

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH

ONCOLOGIC DRUGS ADVISORY COMMITTEE (ODAC)

Wednesday, October 10, 2018  
8:00 a.m. to 11:33 a.m.

FDA White Oak Campus  
Building 31, the Great Room  
10903 New Hampshire Avenue  
Silver Spring, Maryland

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Lauren Tesh, PharmD, BCPS**

4 Division of Advisory Committee and Consultant  
5 Management

6 Office of Executive Programs, CDER, FDA

7  
8 **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)**

9 **Massimo Cristofanilli, MD, FACP**

10 Associate Director of Translational Research and  
11 Precision Medicine

12 Robert H. Lurie Comprehensive Cancer Center  
13 Chicago, Illinois

14  
15 **Susan Halabi, PhD**

16 Professor of Biostatistics and Bioinformatics

17 Duke University Medical Center

18 Durham, North Carolina

19  
20  
21  
22

1     **Christian S. Hinrichs, MD**

2     Investigator & Lasker Clinical Research Scholar  
3     Experimental Transplantation and Immunology Branch  
4     National Cancer Institute (NCI)  
5     National Institutes of Health (NIH)  
6     Bethesda, Maryland

7  
8     **Heidi D. Klepin, MD, MS**

9     Associate Professor of Internal Medicine  
10    Section of Hematology and Oncology  
11    Wake Forest University Health Sciences  
12    Winston Salem, North Carolina

13  
14    **Grzegorz S. Nowakowski, MD**

15    Associate Professor of Medicine and Oncology  
16    Mayo Clinic Rochester  
17    Rochester, Minnesota

18

19

20

21

22

1     **Brian I. Rini, MD, FACP**

2     *(Chairperson)*

3     Professor of Medicine, Lerner College of Medicine

4     Leader, GU Program

5     Department of Hematology and Oncology

6     Cleveland Clinic Taussig Cancer Institute

7     Cleveland, Ohio

8

9     **Thomas S. Uldrick, MD, MS**

10    Deputy Head, Global Oncology

11    Associate Member, Vaccine and Infectious Disease

12    Division

13    Associate Member, Clinical Research Division

14    Fred Hutchinson Cancer Research Center

15    Seattle, Washington

16

17

18

19

20

21

22

1       **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Non-**  
2       **Voting)**

3       **Phuong Khanh (P.K.) Morrow, MD, FACP**

4       *(Industry Representative)*

5       Executive Medical Director, Amgen Oncology

6       Therapeutic Area Head, US Medical Organization

7       Thousand Oaks, California

8  
9       **TEMPORARY MEMBERS (Voting)**

10      **Andy Chen, MD, PhD**

11      Associate Professor of Medicine

12      Division of Hematology and Medical Oncology

13      Oregon Health and Science University

14      Portland, Oregon

15  
16      **Jerry M. Collins, PhD**

17      Associate Director for Developmental Therapeutics

18      Division of Cancer Treatment and Diagnosis

19      NCI, NIH

20      Bethesda, Maryland

21

22

1     **William S. Hancock, PhD**

2     Bradstreet Chair in Bioanalytical Chemistry,  
3     Barnett Institute and Department of Chemistry and  
4     Chemical Biology  
5     Northeastern University  
6     Boston, Massachusetts

7

8     **Randy Hawkins, MD**

9     *(Acting Consumer Representative)*  
10    Private Practice  
11    Inglewood, California

12

13    **Adel H. Karara, PhD, FCP**

14    Professor of Pharmaceutical Sciences  
15    University of Maryland Eastern Shore  
16    Princess Anne, Maryland

17

18

19

20

21

22

1     **Eric O. Long, PhD**

2     Head, Molecular and Cellular Immunology

3     Laboratory of Immunogenetics

4     National Institute of Allergy and Infectious

5     Diseases, NIH

6     Rockville, Maryland

7

8     **Tracy G. Matson**

9     *(Patient Representative)*

10    Little Rock, Arkansas

11

12    **Paul J. Smith, PhD**

13    Associate Professor of Statistics

14    Director, Mathematical Statistics Program

15    University of Maryland

16    College Park, Maryland

17

18

19

20

21

22

1     **Scott A. Waldman, MD, PhD**

2     Chair, Department of Pharmacology and

3     Experimental Therapeutics

4     Samuel MV Hamilton Professor

5     Thomas Jefferson University

6     Director, GI Malignancies Program

7     Kimmel Cancer Center

8     Philadelphia, Pennsylvania

9

10    **FDA PARTICIPANTS (Non-Voting)**

11    **Ann Farrell, MD**

12    Director

13    Division of Hematology Products (DHP)

14    Office of Hematology and Oncology Products (OHOP)

15    Office of New Drugs (OND), CDER, FDA

16

17    **Sue Lim, MD**

18    Director of the Scientific Review Staff

19    Therapeutic Biologics and Biosimilars Staff (TBBS)

20    OND, CDER, FDA

21

22

1     **Nicole Gormley, MD**

2     Deputy Director (Acting)

3     DHP, OHOP, OND, CDER, FDA

4

5     **Steven Kozlowski, MD**

6     Director

7     Office of Biotechnology Products (OBP)

8     Office of Pharmaceutical Quality (OPQ)

9     CDER, FDA

10

11    **Vishal Bhatnagar, MD**

12    Medical Officer Team Leader (Acting)

13    DHP, OHOP, OND, CDER, FDA

14

15    **Christopher Downey, PhD**

16    Review Chief

17    Division of Biotechnology Review and Research IV

18    OBP, OPQ, CDER, FDA

19

20

21

22

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Brian Rini, MD, FACP	12
5	Conflict of Interest Statement	
6	Lauren Tesh, PharmD	16
7	Opening Remarks	
8	Vishal Bhatnagar, MD	20
9	<b>Applicant Presentations - Celltrion, Inc.</b>	
10	Analytical Biosimilarity and Nonclinical	
11	Assessment	
12	Elizabeth Pollitt, PhD	28
13	Clinical Pharmacology, Efficacy and	
14	Safety	
15	Alexey Kudrin, MD, PhD, MBA	42
16	Clinical Perspective	
17	David Rizzieri, MD	62
18		
19		
20		
21		
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	<b>FDA Presentations</b>	
4	Product Quality	
5	Haoheng Yan, MD, PhD	66
6	Yu-Ting Weng, PhD	70
7	Haoheng Yan, MD, PhD	72
8	Clinical Pharmacology and Immunogenicity	
9	Assessment	
10	Sang Chung, PhD	79
11	Clinical Efficacy and Safety	
12	Rachel Ershler, MD	85
13	Cindy Gao, PhD	87
14	Rachel Ershler, MD	91
15	Clarifying Questions to Presenters	97
16	Open Public Hearing	126
17	Questions to the Committee and Discussion	144
18	Adjournment	158
19		
20		
21		
22		

1                   P R O C E E D I N G S

2                   (8:00 a.m.)

3                   **Call to Order**

4                   **Introduction of Committee**

5                   DR. RINI: Good morning, everyone. We're going  
6 to go ahead and get started. I'd like to remind  
7 everyone to please silence your cell phones or other  
8 devices, first, if you haven't already done so and  
9 identify the FDA press contact Sandy Walsh.

10                  Sandy, if you're president, please stand. Thank  
11 you.

12                  We'll first go around and introduce ourselves.  
13 If you'd just state your name, your institution, and  
14 your role or expertise, and we'll start with P.K. down  
15 on the end.

16                  DR. MORROW: P.K. Morrow, medical oncologist.  
17 I'm the industry representative, and I'm employed by  
18 Amgen.

19                  DR. WALDMAN: Scott Waldman, Thomas Jefferson  
20 University, Department of Pharmacology and Experimental  
21 Therapeutics in Philadelphia. I'm a clinical  
22 pharmacologist.

1 DR. HANCOCK: William Hancock, Northeastern  
2 University. I'm the analytical guy, HPLC, mass spec.

3 DR. LONG: Eric Long. I'm a scientist at the  
4 National Institute of Allergy and Infectious Diseases.

5 DR. CHEN: Andy Chen, Oregon Health and Science  
6 University, and I'm a practicing malignant hematologist  
7 and transplant.

8 DR. Adel Karara, University of Maryland,  
9 Eastern Shore, clinical pharmacology.

10 MR. MATSON: Tracy Matson, patient rep.

11 DR. HAWKINS: Randy Hawkins, physician in  
12 private practice, Charles University.

13 DR. HENDRICKS: Christian Hendricks, National  
14 Cancer Institute. I'm a physician, scientist,  
15 oncologist.

16 DR. NOWAKOWSKI: Greg Nowakowski, Mayo Clinic,  
17 Rochester. I'm a medical oncologist specializing in  
18 lymphoma.

19 DR. ULDRICK: Thomas Uldrick, medical  
20 oncologist, Fred Hutch Cancer Research Center.

21 DR. RINI: I'm Brian Rini. I'm a GU medical  
22 oncologist at Cleveland Clinic.

1 DR. TESH: Lauren Tesh, designated federal  
2 officer for ODAC.

3 DR. KLEPIN: Heidi Klepin I'm a geriatric  
4 oncologist at Wake Forest School of Medicine.

5 DR. CRISTOFANILLI: Massimo Cristofannilli,  
6 breast medical oncologist, Northwestern University.

7 DR. HALABI: Susan Halabi, biostatistician,  
8 Duke University.

9 DR. SMITH: Paul Smith, University of Maryland,  
10 statistician.

11 DR. COLLINS: Jerry Collins, National Cancer  
12 Institute at NIH. I lead the developmental therapeutics  
13 program.

14 DR. DOWNEY: Chris Downey. I'm a supervisory  
15 chemist in the Division of Biotechnology Review and  
16 Research, FDA CDER's Office of Biotechnology Products.

17 DR. KOZLOWSKI: Steven Kozlowski, director of  
18 the Office of Biotechnology Products in OPQ CDER.

19 DR. BHATNAGAR: Vishal Bhatnagar, acting  
20 clinical team leader, Division of Hematology Products.

21 DR. GORMLEY: Nicole Gormley, acting deputy  
22 division director, Division of Hematology Products, FDA.

1 DR. LIM: Sue Lim, director of the scientific  
2 review staff, Therapeutic Biologics and Biosimilars,  
3 FDA.

4 DR. FARRELL: Ann Farrell, division director,  
5 Division of Hematology Products. U.S. FDA.

6 DR. RINI: Thank you.

7 For topics such as those being discussed at  
8 today's meeting, there are often a variety of opinions,  
9 some of which are quite strongly held. Our goal is that  
10 today's meeting will be a fair and open forum for  
11 discussion of these issues and that individuals can  
12 express their views without interruption. Thus, as a  
13 gentle reminder, individuals will be allowed to speak  
14 into the record only if recognized by the chair person.  
15 We look forward to a productive meeting.

16 In the spirit of the Federal Advisory Committee  
17 Act and the Government in Sunshine Act, we ask that  
18 advisory committee members take care that their  
19 conversations about the topic at hand take place in the  
20 open forum of the meeting. We are aware that members of  
21 the media are anxious to speak with FDA about these  
22 proceedings. However, FDA will refrain from discussing

1 the details of this meeting with the media until its  
2 conclusion.

3 Also, the committee is reminded to please  
4 refrain from discussing the meeting topic during breaks  
5 or lunch. Thank you.

6 I'll now pass it to Dr. Lauren Tesh, who will  
7 read the Conflict of Interest Statement.

8 **Conflict of Interest Statement**

9 DR. TESH: The Food and Drug Administration is  
10 convening today's meeting of the Oncologic Drugs  
11 Advisory Committee under the authority of the Federal  
12 Advisory Committee Act of 1972. With the exception of  
13 the industry representative, all members and temporary  
14 voting members of the committee are special government  
15 employees or regular federal employees from other  
16 agencies and are subject to federal conflict of interest  
17 laws and regulations.

18 The following information on the status of this  
19 committee's compliance with federal ethics and conflict  
20 of interest laws, covered by but not limited to those  
21 found at 18 USC Section 208, is being provided to  
22 participants in today's meeting and to the public.

1           FDA has determined that members and temporary  
2 voting members of this committee are in compliance with  
3 the federal ethics and conflict of interest laws. Under  
4 18 USC Section 208, Congress has authorized FDA to grant  
5 waivers to special government employees and regular  
6 federal employees who have potential financial conflicts  
7 when it is determined that the agency's need for a  
8 special government employee's services outweighs his or  
9 her potential financial conflict of interest, or when  
10 the interest of a regular federal employee is not so  
11 substantial as to be deemed likely to affect the  
12 integrity of the services which the government may  
13 expect from the employee.

14           Related to the discussion of today's meeting,  
15 members and temporary voting members of this committee  
16 have been screened for potential financial conflicts of  
17 interest of their own as well as those imputed to them,  
18 including those of their spouses or minor children and,  
19 for purposes of 18 USC Section 208, their employers.  
20 These interests may include investments, consulting;  
21 expert witness testimony; contracts; grants; CRADAs;  
22 teaching, speaking, writing; patents and royalties; and

1 primary employment.

2 Today's agenda involves discussion of biologics  
3 license application, BLA 761088 for CT-P10, a proposed  
4 biosimilar to Genentech, Inc's rituximab, Rituxan,  
5 submitted by Celltrion, Inc. The proposed indications  
6 for CT-P10 are treatment of adults with one relapse or  
7 refractory low-grade or follicular CD20-positive, B-cell  
8 non-Hodgkin's lymphoma as a single agent; 2, previously  
9 untreated follicular CD20-positive B-cell NHL in  
10 combination with first-line chemotherapy; and in  
11 patients achieving a complete or partial response to  
12 rituximab product in combination with chemotherapy as a  
13 single agent maintenance therapy; and 3,  
14 non-progressing, including stable disease low grade  
15 CD20-positive B-cell NHL as a single agent after  
16 first-line cyclophosphamide, vincristine, and prednisone  
17 chemotherapy.

18 This is a particular matters meeting which  
19 during specific matters related to Celltrion's BLA will  
20 be discussed. Based on the agenda for today's meeting  
21 and all financial interests reported by the committee  
22 members and temporary voting members, no conflict of

1 interest waivers have been issued in connection with  
2 this meeting. To ensure transparency, we encourage all  
3 standing committee members and temporary voting members  
4 to disclose any public statements that they may have had  
5 concerning the product at issue.

6 With respect to FDA's invited industry  
7 representative, we would like to disclose that Dr. P.K.  
8 Morrow is participating in this meeting as a nonvoting  
9 industry representative acting on behalf of regulated  
10 industry. Dr. Morrow's role at this meeting is to  
11 represent industry in general and not any particular  
12 company. Dr. Morrow is employed by Amgen.

13 We would like to remind members and temporary  
14 voting members that if the discussions involve any other  
15 products or firms not already on the agenda for which an  
16 FDA participant has a personal or imputed financial  
17 interest, the participants need to exclude themselves  
18 from such involvement, and their exclusion will be noted  
19 for the record. FDA encourages all other participants  
20 to advise the committee of any financial relationships  
21 that they may have had with the firm at issue. Thank  
22 you.

1 DR. RINI: Thanks, Lauren.

2 I will now proceed with opening FDA remarks  
3 from Dr. Vishal Bhatnagar.

4 **Opening Remarks - Vishal Bhatnagar**

5 DR. BHATNAGAR: Good morning. We're here today  
6 to discuss an application for CT-P10, a proposed  
7 biosimilar to U.S. Rituxan. This application is being  
8 presented at today's advisory committee because, if  
9 approved, would represent the first Rituxan biosimilar.

10 This application was originally submitted on  
11 April 28, 2017. A complete response letter was issued  
12 in February 2018 due to clinical, product quality, and  
13 facilities' deficiencies. The applicant's resubmission  
14 was received on May 28th of 2018. The initial approval  
15 for U.S. licensed Rituxan occurred in 1997. The  
16 applicant is seeking indications for CT-P10 limited to  
17 3 U.S. Rituxan non-Hodgkin's lymphoma indications. The  
18 applicant is not seeking the U.S. licensed Rituxan  
19 indications for diffuse large B-cell lymphoma, chronic  
20 lymphocytic leukemia, rheumatoid arthritis,  
21 granulomatosis with polyangitis, or Pemphigus vulgaris.

22 Before we discuss the development of CT-P10, I

1 will provide a brief overview of the development and  
2 approval pathway of biosimilar products in the United  
3 States. On March 23, 2010, the Affordable Care Act was  
4 signed into law. This gave the FDA the authority and  
5 responsibility to regulate biosimilar biological  
6 products.

7 The pathway to licensure for a biosimilar  
8 product is described in the Biologics Price Competition  
9 and Innovation Act of 2009 or the BPCI Act. What the  
10 BPCI Act did was create an abbreviated licensure pathway  
11 for biological products shown to be biosimilar to or  
12 interchangeable with an FDA licensed reference product.

13 The BPCI Act states that a biological product  
14 that is demonstrated to be highly similar to an FDA  
15 licensed biological product, known as the reference  
16 product, may rely for licensure on publicly available  
17 information regarding FDA's previous determination that  
18 a reference product is safe, pure, and potent, or in  
19 other words, safe and effective. This licensure pathway  
20 then permits a biosimilar biological product to be  
21 licensed under Section 351(k) of the Public Health  
22 Service Act or PHS Act, and that is what is meant by an

1 abbreviated licensure pathway.

2 Notably, the abbreviated licensure pathway does  
3 not mean that a lower approval standard is applied to a  
4 biosimilar product than to an originator biological  
5 product.

6 Biosimilar or biosimilarity means that the  
7 biological product is highly similar to the reference  
8 product, notwithstanding minor differences in clinically  
9 inactive components, and that there are no clinically  
10 meaningful differences between the biological product  
11 and the reference product in terms of the safety,  
12 purity, and potency of the product.

13 Note that there are two parts of the statutory  
14 definition, and both parts must be met for the product  
15 to be licensed as a biosimilar product. The goals of a  
16 stand-alone and biosimilar product development differ.  
17 The figure on the left depicts a stand-alone development  
18 under 351(a) of the Public Health Service Act, and the  
19 goal of such a development program is to establish the  
20 safety and efficacy of a new product.

21 The types of data that would support a 351(a)  
22 application include analytical data, animal data,

1 clinical pharmacology data, including data from phase 1  
2 and phase 2 studies, which would include dose finding  
3 that would look for a dose to bring forward into phase 3  
4 trials; then there are typically two phase 3 trials that  
5 would support the safety and efficacy.

6 In contrast, on the right-hand side of the  
7 slide is the abbreviated licensure pathway for a product  
8 that is developed under Section 351(k) of the Public  
9 Health Service Act. The goal is not to independently  
10 reestablish the efficacy and safety of the reference  
11 product. The goal is to demonstrate biosimilarity to a  
12 reference product.

13 351(k) applications also include analytical  
14 data, animal data, clinical pharmacology data, and  
15 additional clinical studies. However, you'll notice  
16 that the size or area of the layers in the pyramid are  
17 different, and that's intended to indicate the weight  
18 that is generally put on the types of data to support  
19 approval of biosimilar products.

20 The focus here is analytical data. FDA has  
21 outlined in the guidance a step-wise approach to  
22 generate data in support of a demonstration of

1 biosimilarity. At each step of data generation, there's  
2 an evaluation of residual uncertainty about the  
3 demonstration of biosimilarity as the data builds. This  
4 concept of the data building on itself is known as the  
5 totality-of-evidence approach in evaluating  
6 biosimilarity.

7 Unlike a stand-alone development program, which  
8 contains pivotal clinical trials to support safety and  
9 efficacy, for biosimilarity, there is no one pivotal  
10 trial that supports biosimilarity. We consider and look  
11 at the totality of evidence.

12 In summary, analytical similarity data is the  
13 foundation of biosimilar development. Because  
14 analytical differences are inherent to biological  
15 products, there will be analytical differences observed  
16 between the reference product and the biosimilar. The  
17 key for the biosimilar applicant is to identify those  
18 differences and evaluate whether those differences have  
19 clinical impact.

20 Understanding the relationship between quality  
21 attributes and the clinical safety and efficacy profile  
22 aids in the ability to determine residual uncertainty

1 about demonstration of biosimilarity and to predict  
2 expected clinical similarity from quality data. The  
3 nature and scope of clinical studies will depend on the  
4 extent of residual uncertainty about the biosimilarity  
5 of the two products after conducting extensive  
6 analytical similarity testing.

7 Comparative clinical studies will be necessary  
8 to support a demonstration of biosimilarity if there are  
9 residual uncertainties about whether there are  
10 clinically meaningful differences between the proposed  
11 biosimilar and the reference product. Lastly, the  
12 approvability of a biosimilar application is based on  
13 the totality of evidence submitted by the applicant.

14 The applicant for CT-P10 has included the  
15 following sources of data to demonstrate that CT-P10 has  
16 biosimilarity to U.S. Rituxan. Today's presentations  
17 from the applicant and the FDA will focus on this data  
18 as a part of the totality of evidence.

19 With all those points in mind, the FDA has  
20 identified three key topics for the advisory committee  
21 to consider for today's meeting. The first topic is to  
22 discuss whether the evidence supports a demonstration

1 that CT-P10 is highly similar to U.S. Rituxan  
2 notwithstanding minor differences in clinically inactive  
3 components.

4 The applicant used an array of analytical  
5 methods to assess the primary, secondary, and higher  
6 order structure, physicochemical properties, and  
7 biological functions of CT-P10 in comparison to U.S.  
8 Rituxan.

9 The second topic is to discuss whether the  
10 evidence supports a demonstration that there are no  
11 clinically meaningful differences between CT-P10 and  
12 U.S. Rituxan. The applicant conducted comparative  
13 clinical studies in advanced follicular lymphoma and  
14 low-tumor burden follicular lymphoma to demonstrate that  
15 there were no clinically meaningful differences.

16 The third topic is to discuss whether there is  
17 adequate justification to support licensure for all of  
18 the proposed indications sought by the applicant. The  
19 advisory committees should consider the totality of  
20 evidence notwithstanding minor differences in  
21 clinically inactive compounds.

22 Finally, the FDA requests the committee to vote

1 whether the totality of evidence supports licensure of  
2 CT-P10 as a biosimilar product to U.S. Rituxan for the  
3 indications for which the applicant is seeking  
4 licensure. Thank you.

5 DR. RINI: Thank you.

6 Both FDA and the public believe in a  
7 transparent process for information-gathering and  
8 decision-making. To ensure such transparency at the  
9 advisory committee meeting, FDA believes that it is  
10 important to understand the context of an individual's  
11 presentation.

12 For this reason, FDA encourages all  
13 participants, including the sponsor's nonemployee  
14 presenters, to advise the committee of any financial  
15 relationships that they may have with the firm at issue  
16 such as consulting fees, travel expenses, honoraria, and  
17 interest in the sponsor, including equity interest in  
18 those based upon the outcome of this meeting.

19 Likewise, FDA encourages you at the beginning  
20 of your presentation to advise the committee if you do  
21 not have such financial relationships. If you choose  
22 not to address the issue of financial relationships at

1 the beginning of your presentation, it will not preclude  
2 you from speaking.

3 We'll now proceed with the applicant's  
4 presentations.

5 **Applicant Presentation - Elizabeth Pollitt**

6 DR. POLLITT: Good Morning, Dr. Rini, today's  
7 advisory committee, and to the FDA. My name is  
8 Elizabeth Pollitt. I'm an independent consultant. And  
9 as former vice president to the CMC for regulatory  
10 affairs at Celltrion, I was involved in the development  
11 of CT-P10.

12 We're pleased to be here today to present the  
13 data that support our application for CT-P10, a Rituxan  
14 or rituximab biosimilar. For our agenda this morning,  
15 I'll introduce you to the development program, and we'll  
16 show you the data that support physicochemical structure  
17 and functional similarity. Then Dr. Kudrin will  
18 describe results of our comparative clinical, and  
19 finally, Dr. Rizzieri will provide his clinical  
20 perspective.

21 We also have experts with us today to help  
22 answer questions and representatives from Teva, our U.S.

1 marketing partner. All external experts have been  
2 compensated for their time and travel.

3 So what is CT-P10? CT-P10 is a proposed  
4 biosimilar to Rituxan. Rituxan was first licensed by  
5 the FDA more than 20 years ago, and there's considerable  
6 experience in more than 4 million patients. It's for  
7 intravenous administration is marketed as MabThera in  
8 the European Union, and CT-P10 has been approved as a  
9 biosimilar to MabThera in the EU. The active substance  
10 is rituximab, which is CD20 antibody. And as we've  
11 heard, Rituxan is approved for use in multiple oncology  
12 and inflammatory indications.

13 Considering the current intellectual property  
14 and exclusivity landscape, Celltrion is seeking approval  
15 for CT-P10 for use in three non-Hodgkin's lymphoma  
16 indications only. These NHL indications are identical  
17 to the respective ones in the Rituxan label and as a  
18 single agent in relapsed or refractory low-grade or  
19 follicular non Hodgkin's lymphoma; in combination with  
20 first-line chemotherapy; and as a single maintenance  
21 therapy in previously untreated follicular non-Hodgkin's  
22 lymphoma; and as a single agent after first-line CVP

1 chemotherapy in non-progressing, including stable  
2 disease, low-grade, non-Hodgkin's lymphoma

3 The analytical, nonclinical and clinical  
4 studies we'll present today intended solely to satisfy  
5 the statutory requirements for licensure of biosimilar  
6 and are not intended to encourage use of CT-P10 in any  
7 indication outside of the proposed indication.

8 How does rituximab work? The structure and  
9 functions of rituximab are well understood. Rituximab  
10 is an anti-chimeric monoclonal antibody, and there are  
11 two main regions of the molecule. The Fab region is  
12 responsible for binding CD20 on B cells at intermediate  
13 stages of differentiation, and the FcγR factor region  
14 binds to complement receptors on effector cells.

15 Together, binding of Fab and FC regions results  
16 in activities which lead to B-cell depletion. Binding  
17 of rituximab to CD20, found only on B cells, leads to  
18 complement dependent cytotoxicity, CDC, and  
19 antibody-dependent cellular cytotoxicity, ADCC, which  
20 are important modes of B-cell depletion.

21 Binding of rituximab to CD20 can also result in  
22 antibody-dependent cellular phagocytosis, ADCP, and

1 apoptosis of the B cell through intracellular signaling,  
2 which might contribute to B-cell elimination. In  
3 oncology indications, rituximab-induced B-cell depletion  
4 directly reduces tumor burden.

5 So how was CT-P10 developed? The development  
6 of CT-P10 followed the step-wise approach to established  
7 biosimilarity as outlined in FDA guidance. The  
8 underlying basis is the product that shown to be highly  
9 similar to a reference product in structure and function  
10 can be expected to perform like the reference product in  
11 the clinical setting, allowing an abbreviated clinical  
12 program.

13 It's important to note that a biosimilar is not  
14 like a generic drug in that it's not an exact duplicate  
15 of the reference product, and as such, biosimilars have  
16 allowable differences. So at each step, the residual  
17 uncertainty on biosimilarity was used to identify the  
18 studies at the next step to address that uncertainty.

19 The FDA's input was sought and incorporated  
20 into the development program for CT-P10. As we'll see,  
21 our data for CT-P10 demonstrates similarity to Rituxan  
22 and MabThera. A wide range of orthogonal highly

1 sensitive methods were used to demonstrate  
2 physicochemical structure and functional similarity to  
3 both Rituxan and MabThera, and the nonclinical studies  
4 included comparative toxicokinetics and toxicology.

5 Comparative Clinical studies demonstrate  
6 similarity in pharmacokinetics and immunogenicity, and  
7 clinical studies show no clinically meaningful  
8 differences in efficacy or safety with CT-P10 and  
9 Rituxan in patients with advanced follicular lymphoma  
10 and/or low-tumor burden follicular lymphoma. As you'll  
11 see, our data demonstrating similarity support that CT-  
12 P10 can be expected to have the same therapeutic effect  
13 as Rituxan in the proposed indications.

14 Starting with the analytic similarity, CT-P10  
15 has the same route of administration, formulation, and  
16 strength as Rituxan. It's manufactured in a CHO cell  
17 line, and controls are applied to ensure acceptable  
18 product quality. The analytical similarity data support  
19 that CT-P10 is similar to the reference product in  
20 physicochemical structure and all biological functions.

21 Let me show you how we assessed on analytic  
22 similarity. In line with the FDA's advice,

1 physicochemical and structural attributes and biological  
2 functions were ranked according to severity and  
3 likelihood of clinical impact based on literature, data,  
4 and in-house studies.

5           Attributes and functions were assigned to three  
6 tiers for statistical analysis using the criticality  
7 ranking. Those with very high criticality, such as CDC  
8 and ADCC assays, were analyzed by equivalence tests, and  
9 the confidence intervals with means difference will be  
10 depicted by bars within the equivalence acceptance  
11 criteria represented by vertical solid lines.

12           Attributes of functions of high to moderate  
13 criticality were analyzed using the quality range  
14 approach based on Rituxan variability represented by  
15 dashed red lines. Those of low criticality, such as  
16 terminal variance, or for qualitative test methods, were  
17 officially assessed. To be complete, we conducted  
18 comparisons of CT-P10, Rituxan, and MabThera to support  
19 comparison of CT-P10 with MabThera in nonclinical  
20 studies, and the lots of Rituxan and MabThera used in  
21 these similarity studies were sourced from the open  
22 market.

1           The comparative analytical studies address all  
2 physicochemical and structural attributes, including  
3 primary structure, which are the linear sequence of  
4 amino acids post-translational modifications, which  
5 includes minor chemical modifications of amino acids;  
6 higher order structure, which is a 3-dimensional form  
7 that results from folding of the linear chain; protein  
8 content, which could impact efficacy and would manifest  
9 through PK and clinical studies; purity and impurities,  
10 which can include high molecular weight forms or  
11 non-assembled forms; charged variants, which may include  
12 deamidated forms' terminal variants or charged glycans;  
13 and glycosylation, where glycan structures are routed to  
14 the amino acid at the molecule as it's produced in the  
15 cell, which can impact Fc receptor binding.

16           Over 25 state-of-the-art orthogonal methods  
17 were used to evaluate the physicochemical structure  
18 generally using 15 lots of each product. Each method  
19 measures multiple attributes, providing a comprehensive  
20 assessment of the similarity between CT-P10, Rituxan,  
21 and MabThera. All methods were validated or qualified  
22 and shown to be suitable for use prior to use.

1           Minor differences detected were evaluated  
2           considering the magnitude and direction of the  
3           difference and the nature of the attribute and potential  
4           clinical impact. I'll present data from representative  
5           lots of the three products, focusing on areas where  
6           minor differences were observed. And therefore I won't  
7           present the data from every method, but the conclusions  
8           on biosimilarity will be shown.

9           Let's start with primary structure. Methods  
10          evaluating primary structure showed high similarity  
11          between CT-P10, Rituxan, and MabThera. Here we show  
12          peptide mapping data with Rituxan in orange, CT-P10 in  
13          blue, and MabThera in gray. Peptide mapping showed an  
14          identical profile without missing or additional peaks,  
15          demonstrating that CT-P10 is highly similar to Rituxan.  
16          Likewise, intact mass analysis showed high similarity  
17          between CT-P10, Rituxan, and MabThera.

18          Analysis of post-translational modifications by  
19          LC-MS detected minor differences in the levels of some  
20          deamidated arginine, but these were sites outside of the  
21          binding regions and didn't impact functional activities.  
22          Overall, the levels of post-translational modifications

1 were low in the three products. Higher order structure  
2 methods showed overlapping spectra, the same disulfide  
3 bond positions, and same free thiol levels.

4 Here are data from differential scanning  
5 calorimetry with the transition temperatures for the  
6 CH2, Fab, and CH3 domain marked with dotted lines. The  
7 data show that the thermal stability and confirmation of  
8 the three products are highly similar.

9 The protein concentration target was revised  
10 during development to match that of Rituxan, and over  
11 90 percent of CT-P10 lots were within the quality range  
12 of Rituxan, showing high similarity. The single outlier  
13 is within .04 mg per mL of the quality range of Rituxan  
14 and has to be considered in the context of the range of  
15 values of Rituxan, as all Rituxan lots are considered  
16 equally safe and efficacious.

17 We looked closely at the purity and impurity  
18 profiles. The SEC-HPLC data suggested the CT-P10 has a  
19 slightly lower level of high molecular weight forms, but  
20 this was not corroborated by other methods and would not  
21 be expected to increase risk because CT-P10 has a lower  
22 level of these impurities. The higher intact IgG and

1 lower non-assembled forms are not expected to result in  
2 higher risk, as CT-P10 has a lower level of the  
3 non-assembled forms.

4 The differences in non-glycosylated heavy chain  
5 in light and heavy chains are very small and don't  
6 preclude that CT-P10 is highly similar to Rituxan  
7 because they have no impact on functional activities.  
8 The same charge variant profile, variants were detected  
9 in all three products. IEC-HPLC showed that CT-P10  
10 contains slightly lower levels of acidic peaks, which  
11 contained deamidated forms in charge glycans and higher  
12 levels of basic peaks, which have N and C terminal  
13 variance.

14 This is not expected to result in higher risk  
15 as the charge variant peaks of the three products  
16 contain the same variants, and these variants are known  
17 to occur on endogenous antibodies or to be removed in  
18 vivo. In addition, as all IEC-HPLC peaks are  
19 functionally active, the difference has no effect on  
20 functional activities.

21 Analysis of glycosylation showed that the same  
22 glycan structures are present in the three products.

1 The most abundant glycans, afucosylated, and the  
2 products have similarly low levels of sialic acids, the  
3 same monosaccharides, and similar levels of glycation.

4 CT-P10 has a slightly higher level of Man5, an  
5 afucosylated glycan, which could increase clearance by  
6 binding to mannose receptors or have increased binding  
7 affinity for Fc gamma receptor 3a and enhanced ADC  
8 activity. But the minor difference did not result in  
9 increased binding affinity to Fc gamma receptor 3a or  
10 increased ADCC, as we'll see in our functional  
11 similarity studies.

12 The difference is very small and is unlikely to  
13 result in significant difference in serum clearance as  
14 is supported by the clinical data. Therefore, the minor  
15 difference in levels of Man5 does not preclude a finding  
16 that CT-P10 is highly similar to U.S. licensed Rituxan.

17 These minor differences, which are showed here  
18 in bold, have to be considered in the context of the  
19 1,326 amino acids at the molecule and the potential  
20 clinical impact. Based on the supportive studies and  
21 scientific knowledge, the data support a conclusion that  
22 CT-P10 is highly similar to Rituxan notwithstanding

1 minor differences in clinically inactive components.

2 Let's turn to the functional similarity  
3 studies, which show the impact of some of these minor  
4 differences. The functional activities were evaluated  
5 using 14 assays, capturing all key elements and  
6 constituents of the known and putative modes of action  
7 of rituximab. We looked at CDC of a B-lymphoblast cell  
8 line and ADCC and ADCP of a B-lymphocyte non-Hodgkin's  
9 lymphoma cell line. We measured binding to CD20 and the  
10 apoptosis induced by binding to CD20. We also evaluated  
11 binding to complement and Fc receptors.

12 So let me start by sharing the CDC in ADCC data  
13 because these are the most important activities, which  
14 were statistically analyzed by equivalence test. As we  
15 can see here, the data show highly similar CDC and an  
16 assay using WIL2-S target cells, and normal human  
17 complement. Statistical analysis demonstrated that CT-  
18 P10, Rituxan, and MabThera are equivalent in CDC and  
19 could be expected to have the same CDC mediated  
20 therapeutic effect in the proposed indications.

21 ADCC was measured at 3 concentrations in the  
22 linear range at the dose response curve using Raji

1 target cells. These were B-lymphocyte cell lines from a  
2 Burkitt's lymphoma patient. A normal donor PBMC, we  
3 used the surfactant [ph] cells. CT-P10 and MabThera  
4 lots were within the acceptance criteria of Rituxan.  
5 Supporting of the products [indiscernible] can be  
6 expected to have the same ADCC mediated therapeutic  
7 effect in the proposed indications.

8 As ADCP may contribute to B-cell elimination,  
9 ADCP was evaluated using Raji target cells and primary  
10 monocyte-derived macrophages. All lots of CT-P10 and  
11 MabThera were within the three standard deviation  
12 quality range of Rituxan showing high similarity in  
13 ADCP.

14 We measured binding to CD20 using a cell-based  
15 ELISA with CHO cells engineered to express CD20. We  
16 applied stringent criteria to assess similarity on all  
17 CT-P10 lots within the two standard deviation range of  
18 Rituxan lots. These data support an expectation that  
19 CT-P10 will have similar activity to Rituxan in the  
20 proposed indications, as all functional activities are  
21 initiated by binding to CD20.

22 The apoptosis induced by binding CD20 was

1 evaluated using Raji target cells. As shown, CT-P10,  
2 Rituxan, and MabThera induced highly similar levels of  
3 apoptosis. High similarity was also demonstrated in  
4 binding to complement and Fc receptors. Here's the data  
5 for binding to neonatal Fc receptor, FcRN, which can  
6 influence PK. CT-P10 and MabThera were within the  
7 quality range of Rituxan, supporting that the products  
8 will have a similar PK profile, as was confirmed by our  
9 clinical studies.

10 We've shown that CT-P10 has equivalent CDC and  
11 ADCC to Rituxan, highly similar ADCP, highly similar  
12 CD20 binding and apoptosis, and highly similar binding  
13 to complement and Fc receptors. We also have data  
14 showing CT-P10, Rituxan, MabThera have comparable  
15 functional activities on primary human B cells.

16 In conclusion, these data support that the  
17 minor differences in physicochemical structure have no  
18 impact on functional activities. Therefore, CT-P10 is  
19 highly similar to Rituxan in all biological and  
20 functional activities and can be expected to deliver the  
21 same therapeutic effect as Rituxan in the proposed  
22 indications. The similarity data also demonstrate that

1 MabThera and Rituxan are physically, chemically and  
2 functionally indistinguishable, supporting the use of  
3 MabThera in nonclinical studies.

4 Moving to the next step of the pyramid, the  
5 nonclinical studies fulfill statutory requirements for  
6 animal studies, including an assessment of toxicity and  
7 form the next step of the pyramid. Overall, the  
8 nonclinical assessments showed CT-P10 and MabThera were  
9 similar. CT-P10 and MabThera have similar binding to  
10 lymphocytes and lymphoid aggregates in several human  
11 tissues and have similar pharmacology, toxicokinetics,  
12 toxicology, and local tolerance in an 8-week repeat dose  
13 toxicology study in cynomologous monkeys. Given the  
14 high analytic similarity between MabThera and Rituxan,  
15 these data are also relevant for Rituxan.

16 Now I'll turn the podium over to Dr. Kudrin,  
17 who will take you through the clinical data.

18 **Applicant Presentation - Alex Kudrin**

19 DR. KUDRIN: Thank you, Dr. Pollitt.

20 I'm Alex Kudrin, clinical expert for Celltrion,  
21 and I was involved since the foundation in the planning  
22 and execution of the CT-P10 clinical development program

1 and supportive with approval of CT-P10 in European Union  
2 and other countries.

3 In my presentation today, I'll cover clinical  
4 similarity data from CT-P10 clinical studies that  
5 contribute to the totality of the biosimilarity evidence  
6 and allow us to conclude that there are no clinically  
7 meaningful differences between CT-P10 and Rituxan in  
8 safety, purity, and potency for the proposed indication.

9 CT-P10 biosimilar development program consists  
10 of three comparative clinical studies designed to  
11 demonstrate both similarities of clinical pharmacology  
12 as well as to show that there were no clinically  
13 meaningful differences in efficacy and safety between  
14 CT-P10 and Rituxan.

15 The three comparative studies in this program  
16 were designed with input from EMA and FDA, two oncologic  
17 studies in treatment-naive patients were CD20 positive  
18 for follicular lymphoma, studies 3.3 and 3.4. The  
19 choice of low-tumor burden follicular lymphoma patients  
20 in pivotal studies CT-P10 3.4 was recommended by FDA as  
21 the most sensitive and homogenous study population.

22 Study CT-P10 3.2 in rheumatoid arthritis

1 patients provides with PK immunogenicity similarity  
2 data. Based on published data, RA patients were known  
3 to display higher levels of anti-drug antibodies to  
4 Rituxan compared to NHL patients, and the immunogenicity  
5 and PK data in these patients contribute to the  
6 assessment of clinical similarity.

7 The focus of discussion of RA data will be  
8 around clinical PK and immunogenicity. Safety and  
9 immunogenicity were thoroughly evaluated across all  
10 conducted studies.

11 Study 3.3 was a randomized, active-controlled,  
12 double-blind study to demonstrate similarity of PK and  
13 noninferiority of efficacy for CT-P10 compared to  
14 Rituxan for treatment-naive patients with confirmed CD20  
15 positive advanced grade 1 to 3a and Ann Arbor 3 and 4  
16 stage, follicular lymphoma matching with the World  
17 Health Organization 2008 classification.

18 The first 24-week period included 8 cycles of  
19 CT-P10 or Rituxan every 3 weeks in combination with  
20 cyclophosphamide, vincristine, and prednisone. This  
21 study included a subset of patients who participated in  
22 primary PK assessment. 140 patients with follicular

1 lymphoma were enrolled in the study, including to  
2 121 patients who contributed to pharmacokinetic subset.

3           Following completion of the first 8 cycles of  
4 treatment, patients who showed response had the  
5 opportunity to enter a maintenance period for continuous  
6 monotherapy with CT-P10 or Rituxan for an additional 12  
7 cycles for up to 2 years. The maintenance period  
8 generates additional comparative efficacy and safety  
9 data, including survival, durability of responses, and  
10 disease progression outcomes.

11           The study is ongoing and the BLA includes  
12 primary PK and PD clinical efficacy and safety data.  
13 This included median follow-up data of 22.6 months,  
14 including monotherapy maintenance period of 10 months or  
15 more.

16           The primary PK endpoints were area under the  
17 curve tau and maximum concentration at steady state  
18 throughout cycle 4, between weeks 9 and 12. These  
19 endpoints are appropriate based on previous NHL studies  
20 conducted with rituximab, which indicated that the  
21 steady state is reached at the fourth cycle of  
22 treatment. This study was agreed with EMA.

1           The efficacy endpoint was overall response rate  
2 during 24 weeks to demonstrate that CT-P10 is  
3 noninferior to Rituxan. The assessment of overall  
4 response was done using computer tomography imaging at  
5 prespecified study visits, and the tumor assessments  
6 were reviewed by independent centralized reviewers who  
7 were blinded to treatment.

8           Unconfirmed complete response confirmed by CT  
9 imaging but without bone marrow biopsy is a standard  
10 component of overall response assessment based on  
11 international working group criteria because not all  
12 patients were subjected to serial bone marrow biopsies.  
13 Throughout the maintenance period were also evaluated  
14 progression-free survival, overall survival, and  
15 duration of response. Other endpoints evaluated safety  
16 and immunogenicity.

17           Study 3.4 was a randomized, active-controlled,  
18 double-blind study designed to demonstrate therapeutic  
19 equivalence in objective overall response between CT-P10  
20 and Rituxan in treatment-naive patients with confirmed  
21 CD20 positive low-tumor burden follicular lymphoma based  
22 on GELF criteria, including the size of the largest

1 tumor lesion was less than 7 centimeter.

2 This criteria included patients with grade 1 to  
3 3a and Ann Arbor stage 2 and 4. This study was designed  
4 upon recommendation of the FDA because the monotherapy  
5 and the use of low-grade and low-tumor burden status  
6 reduces the impact of confounding factors and allows to  
7 assess if there are any clinically meaningful  
8 differences should they exist.

9 258 patients were randomized. Patients  
10 received the rituximab monotherapy treatment weekly for  
11 4 weeks in the induction study period. After completion  
12 of the first 4 cycles of treatment, those patients who  
13 had their disease under control had an opportunity to  
14 enter the maintenance period for continuous monotherapy.

15 Those patients received either CT-P10 or  
16 Rituxan for an additional 6 cycles over one year,  
17 administered every 2 months. Subsequently, all patients  
18 would receive CT-P10 every 2 months for one additional  
19 year.

20 Once the study's ongoing, currently the  
21 available data includes 7 months from the start of  
22 induction study period highlighted in the bracket. The

1 primary efficacy endpoint was objective overall response  
2 rate over 7 months to demonstrate that CT-P10 is  
3 equivalent to Rituxan. The overall response rate is  
4 defined as the proportion of patients with best overall  
5 response is complete response, unconfirmed complete  
6 response, or partial response.

7 The best overall response assessment was done  
8 up to 7 months prior to the maintenance cycle 3. This  
9 primary endpoint is recommended by FDA, and there's an  
10 objective imaging-based assessment of the tumor size  
11 changes throughout the study. It has been used for two  
12 other biosimilar monoclonal antibody products discussed  
13 previously at ODAC and approved by FDA in oncology  
14 conditions.

15 The assessment of overall response was done  
16 using computer tomography scans at prespecified study  
17 visits, and the tumor assessments were reviewed by  
18 independent centralized reviewers who were blinded to  
19 treatment. The secondary endpoints were PK, additional  
20 efficacy, safety, and immunogenicity.

21 In addition, a randomized double-blind control  
22 study CT-P10 3.2 two was carried out in patients with

1 moderate to severe active rheumatoid arthritis,  
2 confirmed by 1987 ACR classification criteria and  
3 included patients who failed or were intolerant to one  
4 or two anti-TNF agents.

5 In our subsequent presentation, we'll focus on  
6 PK and immunogenicity data from this study. As we would  
7 expect, rheumatoid arthritis patients display much  
8 higher immunogenicity to Rituxan compared to oncology  
9 conditions, and the assessment of PK and immunogenicity  
10 in RA patients contributes to overall clinical  
11 similarity.

12 The study included a PK subset of the first 189  
13 patients comparing PK similarity between CT-P10,  
14 Rituxan, and MabThera. After 2 consecutive courses of  
15 treatment, 48 weeks, patients in the Rituxan group were  
16 re-randomized to transition to CT-P10 or Rituxan in  
17 order to assess the safety and immunogenicity following  
18 the single transition.

19 The total period of assessment for all patients  
20 in the study was 72 weeks of all three courses of  
21 treatment. This study had been completed. The primary  
22 endpoints were evaluated over 24 weeks. The PK

1 assessment included area under the serum concentration  
2 time curve from zero to time to last quantifiable  
3 concentration; AUC from zero to infinity; and maximum  
4 serum concentration after the second infusion.

5 Similar to other studies, we also evaluated  
6 immunogenicity and safety to show that CT-P10 is similar  
7 to Rituxan. Let's review the comparative PK data from  
8 RA and follicular lymphoma studies with demonstrated  
9 clinical similarity between CT-P10 and Rituxan for the  
10 proposed indications.

11 In study 3.2, we saw similar mean concentration  
12 time profiles establishing PK similarity between CT-P10,  
13 Rituxan, and MabThera in patients with rheumatoid  
14 arthritis. The main concentration profiles were  
15 consistent for 2 intravenous infusions. Looking at the  
16 results in the forest plot, we can clearly see that all  
17 endpoints are within the predefined equivalence margin  
18 of 80 to 125 percent with a similarity shown between CT-  
19 P10 and Rituxan.

20 Moving now to follicular lymphoma studies,  
21 study 3.3 in patients with advanced follicular lymphoma  
22 show similar mean concentration time profiles throughout

1 the 3-week cycle 4 establishing PK similarity between  
2 CT-P10 and Rituxan. The 90 percent confidence interval  
3 or geometric means ratio for AUC tau and Cmax at steady  
4 state were entirely contained in the PK similarity  
5 margin of 80 to 125 percent consistent with FDA  
6 guidance.

7 Moving next to study 3.4, in this study, PK was  
8 assessed descriptively in patients with low-tumor burden  
9 follicular lymphoma. The mean maximum concentration  
10 Cmax and Ctough levels of CT-P10 and Rituxan were  
11 nearly identical. The concentration time profiles are  
12 very closely overlapping at each time point.

13 Let me now review the comparative clinical  
14 efficacy data, which demonstrate clinical similarity  
15 between CT-P10 and Rituxan for the proposed indications.  
16 Let's review the results of study 3.3 in patients with  
17 advanced follicular lymphoma. The study included  
18 treatment-naive patients with Ann Arbor 3 and 4 stage,  
19 CD20-positive follicular lymphoma. Patients with  
20 aggressive high-grade lymphoma or evidence of  
21 transformation to diffuse large B-cell lymphoma were  
22 excluded.

1           In this study, the primary endpoint was best  
2 overall response assessed during the induction period on  
3 background of CTP up to week 24. The normal  
4 [indiscernible] ratio of ORR was evaluated using minus  
5 7 percent margin using Marcus 2005 and Federico 2013  
6 studies.

7           140 patients were randomized in this study, 70  
8 patients to CT-P10 and 70 to Rituxan. Similar  
9 proportions of patients completed induction and entered  
10 the maintenance period. There were similar proportions  
11 of discontinuations between treatment groups. The  
12 overall demographics and baseline disease  
13 characteristics were generally balanced between CT-P10  
14 and Rituxan.

15           The only differences between groups noted were  
16 a slightly higher proportion of patients with Ann Arbor  
17 stage 4 and a high proportion of patients with presence  
18 of bone marrow involvement in CT-P10 group compared to  
19 Rituxan. These differences were considered to be  
20 important in interpreting safety results in study 3.3.

21           In line with more advanced stages of disease,  
22 patients in the study were sicker than patients with

1 low-tumor burden follicular lymphoma in study 3.4.  
2 Overall, the study population was comparable to the  
3 population included in Marcus 2005 historical study with  
4 Rituxan and CTP combination regimen in patients with  
5 follicular lymphoma.

6 The proportions of patients with complete  
7 response, unconfirmed complete response, and partial  
8 response achieving and overall response over 8 cycles  
9 was similar for both groups. The ITT analysis  
10 noninferiority was demonstrated since the lower bound of  
11 95 percent confidence interval of the treatment  
12 difference fell on the positive side of the margin of  
13 minus 7 percent.

14 Proportions of patients with complete and  
15 partial responses were comparable between treatment  
16 groups. The noninferiority has been also confirmed by  
17 per protocol and missing data imputation analysis.

18 We conducted time-to-event analysis, including  
19 duration of complete response and partial responses  
20 throughout the study and the follow-up period. The  
21 follow-up period duration was a median of 22.6 months,  
22 including at least 10 months on monotherapy treatment.

1           Here you see the duration of responses measured  
2           from the time of first response until relapse or  
3           progression, and this was found to be similar between  
4           CT-P10 and Rituxan. In addition, time-to-event for  
5           progression-free survival was captured, and this trend  
6           was similar with no clinically meaningful differences  
7           between treatment groups. And as expected, the event  
8           rate for overall survival was low and similar between  
9           treatment groups.

10           This is just to remind you of the methodology  
11           of low-tumor burden follicular lymphoma study. This  
12           study was agreed with FDA and included treatment-naive  
13           patients with low-tumor burden using GELF criteria with  
14           the largest tumor lesion of less than 7 centimeters in  
15           size.

16           The primary endpoint was best overall response  
17           assessed prior to the maintenance cycle 3. In contrast  
18           to study 3.3, as recommended by FDA, this study was  
19           designed as an equivalence study with two-sided  
20           symmetrical margin in order to demonstrate that there  
21           are no clinically meaningful differences between CT-P10  
22           and Rituxan in terms of efficacy.

1           The equivalence of overall response was  
2           assessed using an equivalence margin of plus or minus 17  
3           percent, which was derived the Ardeshta study 2014 in  
4           low-tumor burden follicular lymphoma study and was  
5           agreed with FDA. 258 patients were randomized in this  
6           study, 130 patients to CT-P10 and 128 to Rituxan.

7           Overall, the proportions of patients with  
8           primary endpoint evaluation and reasons for  
9           discontinuation were similar between treatment groups.  
10          The overall demographics baseline disease  
11          characteristics were well balanced between CT-P10 and  
12          Rituxan. The majority of patients in this study had  
13          overall good performance status. The characteristics of  
14          the study population were consistent with those in  
15          historical study by Ardeshta in 2014.

16          The proportion of patients achieving an ORR was  
17          similar between groups. In ITT analysis, the 90 percent  
18          exact confidence interval for the treatment difference  
19          was entirely within the equivalence margin of plus or  
20          minus 17 percent, establishing therapeutic equivalence  
21          between CT-P10 and Rituxan.

22          The equivalence has been also confirmed by per

1 protocol analysis and missing data imputation. Primary  
2 analysis of overall response in both follicular lymphoma  
3 studies together with time-to-event analysis of  
4 secondary endpoints in advanced follicular lymphoma  
5 patients support the conclusion that there are no  
6 clinically meaningful differences between CT-P10 and  
7 Rituxan in terms of efficacy.

8 Moving now to the clinical safety, follicular  
9 lymphoma studies provided comparative safety data that  
10 overall support clinical similarity between CT-P10 and  
11 Rituxan. It is important to recognize that biosimilar  
12 studies are not powered for safety. Whenever possible  
13 and in agreement with FDA, we have integrated the safety  
14 data sets and presented the data from advanced  
15 follicular lymphoma and low-tumor burden follicular  
16 lymphoma studies separately due to potential impact of  
17 CTP regimen on safety.

18 In advanced follicular lymphoma patients,  
19 disease characteristics and background chemotherapy  
20 treatment can influence the safety. Here we present  
21 safety data from study 3.3 with separate presentation  
22 for CTP induction period and for the monotherapy

1 maintenance period when the confounding impact of CTP no  
2 longer applies.

3 As expected, greater frequencies of adverse  
4 events were reported during the induction period due to  
5 impact of CTP regimen. We have found that most subjects  
6 who received CT-P10 experienced at least one serious  
7 adverse event and discontinued treatment due to an  
8 adverse event. However, the number of patients enrolled  
9 in study CT-P10 3.3 was relatively small, and there were  
10 slight differences at baseline characteristics,  
11 particularly those patients with Ann Arbor stage 4 and  
12 bone marrow involvement in CT-P10 group compared to  
13 Rituxan.

14 These findings limited the interpretation of  
15 the safety results. However, these findings were not  
16 implicated in the larger study, CT-P10 3.4, in low-tumor  
17 burden follicular lymphoma, which I will cover in a  
18 moment.

19 Here we provide a list of serious adverse  
20 events reported by more than one patient in study 3.3.  
21 Again, there were few events reported in individual  
22 preferred term subgroups, and this did not follow any

1 specific or reproducible pattern.

2 We have examined the occurrence of adverse  
3 events listed as warnings and precautions, and they took  
4 some prescribing information. The proportions of  
5 patients with these events was comparable between  
6 groups, and there were no cases of progressive  
7 multifocal leukoencephalopathy across all CT-P10  
8 studies.

9 Most frequently reported adverse events in  
10 either group included neutropenia, infusion-related  
11 reactions, constipation, peripheral neuropathy, and  
12 upper respiratory tract infections. The majority of AEs  
13 were potentially attributed to CTP therapy. There were  
14 slightly more patients with neutropenia and CT-P10  
15 treatment group compared to Rituxan.

16 This finding was due to the fact that more  
17 patients CT-P10 growth had bone marrow involvement at  
18 baseline. However, numerical increase in neutropenia  
19 did not result in change in incidence of infections, and  
20 there were no difference in severe, febrile, or  
21 prolonged neutropenia between treatment groups. There  
22 were other slight numerical differences in AEs, which

1 did not follow any specific pattern, and they were  
2 likely due to a relatively small size of study 3.3 and  
3 impact of CTP regimen.

4 Here, we see the overall safety profile in  
5 patients with low-tumor burden follicular lymphoma. In  
6 this study, monotherapy of CT-P10 and Rituxan was used  
7 throughout and there was no confounding impact of  
8 chemotherapy. Slightly medical differences were  
9 observed between treatment groups, but this was less  
10 prominent, and overall, the incidence of adverse events  
11 was comparable between CT-P10 and Rituxan.

12 These are all serious adverse events reported  
13 by Preferred Term. The proportion of SAEs was  
14 comparable between groups. The proportion of patients  
15 with adverse events listed in the warnings and  
16 precautions in Rituxan USPI were comparable between  
17 groups, and there were no reported cases of some events.  
18 A similar proportion of patients in each treatment group  
19 experienced an adverse event. Infusion-related  
20 reactions and upper respiratory infections were the most  
21 frequently reported events in both groups.

22 Taken in totality and reviewing adverse events

1 across both follicular lymphoma studies, we have noticed  
2 variability in incidence of adverse events in study 3.3,  
3 which did not follow any specific direction. The high  
4 incidence of neutropenia observed in the 3.3 study was  
5 not observed in the larger study, 3.4. And in  
6 conclusion, the safety results from study 3.4 addressed  
7 the concern of potentially clinically meaningful  
8 differences between CT-P10 and Rituxan presented by  
9 study 3.3

10 In addition, extensive analytical functional  
11 and PK similarity data did not reveal any differences  
12 that could explain numerical differences in adverse  
13 events in study 3.3. Following detailed analysis and  
14 with results from a larger study, 3.4, it is concluded  
15 the overall safety results support that there are no  
16 clinically meaningful differences between CT-P10 and  
17 Rituxan.

18 Next, let's look at the immunogenicity results  
19 using state of art and validated methods. Comparative  
20 immunogenicity data from RA follicular lymphoma studies  
21 demonstrate clinical similarity between CT-P10 and  
22 Rituxan for the proposed indications. In line with FDA

1 guidance, the objectives were to evaluate the emergence  
2 of anti-rituximab, anti-chimeric antibodies, also called  
3 ADA, to detect if these antibodies were neutralizing, to  
4 determine the titer, and to really evaluate the clinical  
5 impact on PK, efficacy, and safety.

6 In RA study 3.2, the proportion of ADA-positive  
7 patients was comparable between CT-P10 and Rituxan with  
8 very low incidence of neutralizing antibodies. If  
9 present, ADA and NAbs were found with low titer, and  
10 this finding was consistent between treatment groups.  
11 Most of the patients had negative ADA test results at  
12 each time point.

13 We also show here the immunogenicity results  
14 from the single transition data in extension of study  
15 3.2. Newly developed anti-drug antibodies were detected  
16 in 2 patients following study drug infusion in the  
17 extension period, and the immunogenicity findings were  
18 consistent with the results observed before single  
19 transition. Of course both follicular lymphoma studies,  
20 a low proportion of patients, showed immunogenicity, and  
21 these ADA responses were similar between CT-P10 and  
22 Rituxan.

1           In conclusion, the totality of evidence across  
2 comparative analytical nonclinical and clinical status  
3 provide the necessary data to demonstrate that CT-P10 is  
4 biosimilar to Rituxan for the proposed indications.

5 Thank you for your attention, and now Dr. David Rizzieri  
6 will share his clinical perspective.

7           **Applicant Presentation - David Rizzieri**

8           DR. RIZZIERI: Good morning. I'm David  
9 Rizzieri. I'm chief of the section of hematologic  
10 malignancies at Duke Cancer Institute, and I'm here  
11 today to offer my clinical perspective on CT-P10.

12           Rituximab has been one of the key targeted  
13 therapies in treating patients with non-Hodgkin's  
14 lymphoma. It can be used alone or in combination with  
15 different chemotherapy regimens, and the use of  
16 Rituximab has changed the natural history of B-cell  
17 lymphomas, improving overall response rates,  
18 progression-free survival, and overall survival in  
19 patients with lymphoma.

20           Overall, rituximab has been well established to  
21 be safe, it's well tolerated, and it has modest and  
22 manageable toxicities.

1           As a hematologist/oncologist treating patients  
2 with lymphoma, I want to put the low-tumor burden  
3 follicular lymphoma study in context by comparing it  
4 with other published lymphoma studies.

5           You can see here the data from study 3.4.  
6 First, the population characteristics, these are  
7 treatment-naive patients grade 1 to 3a, stage 2 to 4  
8 disease. They had to have measurable disease of at  
9 least 1 and a half centimeter size nodes, and they had  
10 to have low-tumor burden by GELF criteria primarily, so  
11 no B symptoms, normal LDH, less than 3 nodal sites with  
12 largely those being greater than 3 centimeters in size,  
13 and the largest lesion being less than 7 centimeters.  
14 They had to have no splenomegaly, serious effusions or  
15 organ failure.

16           So the first question I had is who is this  
17 patient in our clinic? These look like pretty well  
18 patients. And we remember that observation for many  
19 patients with low-tumor burden disease remains very  
20 appropriate. But unfortunately many of these patients  
21 are older, they have lots of comorbidities. One of the  
22 biggest things they complained to us about is fatigue,

1 and that's primarily what I think about in older  
2 patients who are otherwise well, but they're just not  
3 feeling as well as they did a couple of years ago.

4 Through the course of this disease, at some  
5 point there remains a concern about fatigue or their  
6 decrement in their daily activities, is it related to  
7 the disease or not, and that's the type of patient that  
8 a single agent, rituximab-like therapy remains very  
9 appropriate.

10 So if we think of that type of patient here in  
11 study 3.4, we can see historically the rituximab data  
12 has a pretty good response rate in the 88 percent range.  
13 In study 3.4, CT-P10 had an 86.8 percent response rate  
14 and rituximab 83.3 percent response rate, so very  
15 comparable. Similarly, we just saw the safety and  
16 toxicity. Immunogenicity and exposure also seemed to be  
17 quite comparable.

18 Let's now look at the patients enrolled in the  
19 advanced follicular lymphoma study, 3.3. Again, these  
20 are treatment-naive patients grade 1 to 3a, advanced  
21 stage, stage 3 to 4. They did have to have measurable  
22 disease, and the CVP backbone was chosen as the

1 chemotherapy comparator.

2 Now, we all have regimens we're used to. We've  
3 probably used CVP for decades. It is one of the  
4 reasonable regimens, not the only regimen that one could  
5 use in this setting, but certainly one that's still very  
6 common after 30 years of therapy. So in the context of  
7 that is the backbone in combination with  
8 chemoimmunotherapy. Historically with rituximab data,  
9 we see it again, a very nice, well established response  
10 rate, 80 to 90 percent here.

11 In the 3.3 study, CT-P10 has the 95.7 percent  
12 overall response rate and rituximab 90 percent response  
13 rate. And of course one of the issues is long-term  
14 tolerability, progression-free survival, and overall  
15 survival. You can see in the study results they remain  
16 quite comparable, as does the safety and toxicity,  
17 immunogenicity, and overall exposure.

18 This provides me with confidence that the  
19 results from both the low-tumor burden follicular  
20 lymphoma and advanced follicular lymphoma studies are  
21 consistent with our experience with rituximab.

22 The Leukemia Lymphoma Society states the annual

1 incidence of non-Hodgkin's lymphoma in the U.S. in 2018  
2 is about 75,000 patients with a prevalence in the U.S.  
3 of about 650,000 patients, a third of which have  
4 follicular lymphoma. So therefore, having another agent  
5 in the form of a biosimilar in the lymphoma indications  
6 for which Celltrion is seeking licensure is an  
7 attractive option for our patients.

8 Thank you, and I will now turn the lectern over  
9 to Dr. Kudrin to take any questions you may have.

10 DR. RINI: We'll do questions after FDA  
11 presentations. So now we'll proceed with FDA.

12 **FDA Presentation - Haoheng Yan**

13 DR. YAN: Good morning. My name is Haoheng  
14 Yan. I'm a product quality reviewer from the Office of  
15 Biotechnology Products, along with my colleague  
16 Dr. Yu-Ting Weng from the Office of Statistics. We'll  
17 be presenting FDA's analyses and conclusions based on  
18 the assessment of applicant's analytical similarity data  
19 to support new licensure of CT-P10 as a biosimilar to  
20 U.S. Rituxan, also known as rituximab.

21 I'll start by summarizing the structure, target  
22 cells and the recognized mechanism actions of rituximab.

1 Rituximab is a chimeric murine/human IgG1 kappa  
2 monoclonal antibody expressed in a mammalian cell  
3 culture system and target CD20 on human B cells. A  
4 schematic structure of IgG1 representing CT-P10 is shown  
5 on the right.

6 As shown in the figure, it consists of 2 light  
7 chains and 2 heavy chains linked by disulfide bonds.  
8 The Fab region binds to the target CD20, and the Fc  
9 region contains N-linked glycosylation and other sites  
10 that play important roles in antibody stability, in vivo  
11 half-life, and effector functions.

12 Regarding the mechanisms of action of  
13 rituximab, based on scientific literature, our current  
14 understanding is that rituximab eliminates CD20  
15 expressing B cells through one or more of the following  
16 mechanisms: complement-dependent cytotoxicity, CDC;  
17 antibody-dependent cell-mediated cytotoxicity, ADCC;  
18 antibody-dependent cellular phagocytosis, ADCP; and  
19 apoptosis.

20 Next, we'll present the applicant's analytical  
21 similarity program and data. To assess analytical  
22 similarity, the applicant used an array of analytical

1 methods to compare quality attributes between CT-P10 and  
2 U.S. Rituxan to support a demonstration that CT-P10 is  
3 highly similar to U.S. Rituxan.

4 This slide shows the product quality attributes  
5 evaluated by the applicant. The attributes can be  
6 grouped into eight categories, including primary and  
7 higher order structures, glycosylation, biological  
8 activity, protein concentration, size and charge  
9 variants, and post-translational modifications.

10 For some attributes, the applicant used  
11 orthogonal methods to conduct similarity assessment. In  
12 addition, degradation profiles of the products under  
13 different conditions were also evaluated.

14 Fifteen lots each of CT-P10 drug product and  
15 U.S. Rituxan were included in the analytical similarity  
16 evaluation. The 15 CT-P10 lots were derived from 15  
17 independent drug substance lots, including 12 lots  
18 manufactured using the proposed commercial manufacturing  
19 process and the lots used in the clinical studies.

20 The applicant assessed the criticality of  
21 quality attributes according to their potential impact  
22 on biological activities, pharmacokinetics,

1 pharmacodynamics, safety, and immunogenicity.

2           Depending on the criticality of the attributes  
3 and the quantitative or qualitative nature of the  
4 analytical methods, the applicant evaluated analytical  
5 data for each attribute using one of the three following  
6 approaches: equivalence testing, quality range  
7 comparison, and visual comparison of analytical data.  
8 The agency agrees with the applicant's approach. FDA's  
9 assessment included independent statistical analysis of  
10 the applicant's data.

11           As we described in an earlier slide, the  
12 recognized mechanisms of action of rituximab include  
13 CDC, ADCC, ADCP, and apoptosis. The applicant developed  
14 4 cell-based assays to evaluate these 4 attributes. Key  
15 features of these assays are shown in this table. Based  
16 on the current understanding of the mechanisms of action  
17 of rituximab and assays' performance for each of these  
18 4 cell-based assays, data from CDC and ADCC assay were  
19 analyzed using statistical equivalence test.

20           Now, I would like to invite Dr. Weng to present  
21 the statistical equivalence analysis of the CDC and ADCC  
22 results.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**FDA Presentation - Yu-Ting Weng**

DR. WENG: Thank you, Dr. Yan.

Good morning. My name is Yu-Ting Weng, the product quality statistical reviewer from the Office of Biostatistics. I will present the result of statistical equivalent testing in the assays for relative activities of CDC and ADCC.

First, I will briefly describe the statistical equivalence test. The null hypothesis is that the main difference between two products is outside of the equivalence margin delta, and the alternative is that the main difference is within the equivalence margin. For these submissions, the equivalence margin delta is 1.5 times the standard deviation of CDC or ADCC over reference product. The reference standard deviation is estimated from CDC or ADCC data for reference product generated by the applicant.

We conclude that the quality attribute passes the equivalence test if 90 percent confidence interval for a mean difference falls within the equivalence margin.

For equivalence testing, the agency focused on

1 the relative activity of CDC and ADCC. The CDC  
2 activities was measured at multiple product  
3 concentrations and the relative activities, EC50, were  
4 calculated against the applicant's CT-P10 internal  
5 reference standard.

6 In the left figure, the orange and blue dots  
7 represent the relative activity results for U.S. Rituxan  
8 and CT-P10, respectively. The relative CDC activity  
9 data indicates that the means and the data spreads of  
10 both products are similar. In addition, because the  
11 right figure shows that the 90 percent confidence  
12 interval for a mean difference between two products is  
13 within the equivalence margin, we conclude that relative  
14 CDC activity passes the equivalence test.

15 The applicant measured the ADCC activities of  
16 CT-P10 and U.S. Rituxan at three different  
17 concentrations within the linear range of the drug  
18 dose-response curve, and the relative activities were  
19 calculated for each concentration against the  
20 applicant's CT-P10 internal reference standard. The  
21 figures here show the result at the level of .01  
22 micrograms per milliliter, which was the concentration

1 closest to the EC50.

2 From the results, we conclude that the relative  
3 ADCC activity at the level of .01 micrograms per  
4 milliliter passes the equivalence test. The analyses  
5 also show that relative ADCC activities at the other two  
6 concentration levels pass the equivalence test.

7 In summary, the CT-P10 results for both CDC and  
8 ADCC pass equivalence testing. Therefore, the results  
9 support a demonstration that CT-P10 is highly similar to  
10 U.S. Rituxan. Now, Dr. Yan will continue the discussion  
11 for other quality attributes.

12 **FDA Presentation - Haoheng Yan**

13 DR. YAN: Thank you, Dr. Weng.

14 Among the 4 mechanisms of action, Dr. Weng  
15 presented the results from the assays measuring CDC and  
16 ADCC activities. I'll continue to present the results  
17 from the ADCP and apoptosis assays.

18 The applicant used a quality range testing to  
19 evaluate the results from these two assays. In the ADCP  
20 assay, the applicant measured the relative  
21 phagocytotic [ph] activity of CT-P10 and U.S. Rituxan at  
22 three different concentrations within the linear range

1 of the drug dose-response curve.

2 The figure on the left shows the results from  
3 one of the three concentrations that is close to the  
4 EC50. Here, the orange-dotted lines represent the  
5 quality range limits calculated for the U.S. Rituxan  
6 lots. The analysis showed similar ADCP activity between  
7 the two products.

8 The results from the other two concentrations  
9 led to the same conclusion. On the right side, the  
10 figure shows the results from the cell-based apoptosis  
11 assay. Apoptotic activity is also similar between the  
12 two products.

13 In addition to the four assays presented so  
14 far, the applicant also assessed and compared products'  
15 binding to CD20, complement component 1Q neonatal Fc  
16 receptor, Fc gamma receptors. These attributes all  
17 contribute to the biological activity and function of  
18 the product. Data analyses showed similar binding  
19 activities between CT-P10 and U.S. Rituxan for each of  
20 these attributes.

21 This slide summarizes the overall analytical  
22 similarity assessment based on the data provided by the

1 applicant. We have presented the assessment on  
2 biological activities grouped in the left lower corner.  
3 Data analysis showed CT-P10 had the same primary  
4 structure as U.S. Rituxan. The two products showed  
5 similar higher order structure and protein  
6 concentration. However, differences were detected in  
7 product glycosylation, charge variants, size variants,  
8 and post-translational modifications, which we will  
9 discuss further in the following slides.

10 This slide listed the specific attributes in  
11 each category that showed differences between CT-P10 and  
12 U.S. Rituxan. These attributes were further studied  
13 using orthogonal methods; and when necessary, biological  
14 activity assays were used to evaluate their potential  
15 impact. In each case, the attributes which had  
16 differences were found not to affect product activity,  
17 and thus these differences do not preclude a  
18 demonstration that CT-P10 is highly similar to U.S.  
19 Rituxan.

20 Now, I will present the results and analyses of  
21 these attributes. In the glycosylation assessment, the  
22 applicant evaluated their product afucosylation,

1 galactosylation, sialylation, and high mannose content.  
2 The data showed that CT-P10 and U.S. Rituxan have the  
3 same N-glycosylation sites, the same glycan species, and  
4 similar levels of most glycans, except for high mannose  
5 content, which is shown in the figure.

6 Based on published literature, high mannose  
7 forms are known to affect ADCC activity and product rate  
8 of clearance from the circulation. Such concerns are  
9 mitigated by the following factors. First, the  
10 difference is small between the two products. Second,  
11 similar ADCC activities were demonstrated between the  
12 two products. Last, the impact on PK was further  
13 addressed in a comparative PK study, which you will hear  
14 in the clinical pharmacology presentation.

15 Differences were observed in amounts of charge  
16 variants between the two products. To elaborate on the  
17 differences, the figure on this slide shows a  
18 representative ion exchange chromatographic profile of  
19 CT-P10. Peak 4 is identified as the main peak; that's  
20 the big peak in the middle, while the peaks before the  
21 main peak are the acidic peaks, and after the main peak  
22 are the basic peaks.

1           As shown in the bottom two scatter plots, CT-  
2 P10 has a lower percentage of acidic peaks but a higher  
3 percentage of basic peaks compared to U.S. Rituxan. In  
4 both cases, the results for CT-P10 did not meet the  
5 quality range criteria. No difference was noted in the  
6 main peaks between the two products.

7           It is known that post-translational  
8 modifications of monoclonal antibodies, such as  
9 deamidated species or oxidative species, sialylated  
10 glycans, and N- and C-terminal variants can lead to  
11 change in charge variant. Some of these modifications  
12 can affect the biological activity of monoclonal  
13 antibody products.

14           To determine the impact of the charge variants  
15 differences observed between the two products, the  
16 applicant isolated and characterized fractions  
17 containing enriched levels of acidic and basic peaks.  
18 This characterization revealed that the same types of  
19 product variant are present in both products, albeit in  
20 different amounts. More importantly, each isolated  
21 fraction showed similar levels of biological activities  
22 between the two products. And similar biological

1 activities were demonstrated for CT-P10 and U.S.  
2 Rituxan, despite the observed differences in charge  
3 variants. Therefore, we concluded that the differences  
4 observed in charge variants do not preclude a  
5 demonstration that CT-P10 is highly similar to U.S.  
6 Rituxan.

7 Minor differences were also observed in product  
8 size variants, which are shown in the three figures  
9 here. For product aggregates, shown on the left, CT-P10  
10 has a lower percentage of aggregates compared to U.S.  
11 Rituxan measured by the size exclusion HPLC, but the  
12 difference is small and orthogonal methods support the  
13 two products have similar levels of aggregates.

14 The applicant used non-reducing and reducing  
15 CE-SDS to assess product purity. The results are  
16 presented as percentage of intact IgG shown in the  
17 middle and percentage of heavy chain and light chain sum  
18 shown on the right, respectively.

19 For intact IgG in the middle, two of the 15  
20 CT-P10 lots were outside of the quality range defined by  
21 U.S. Rituxan, however, these two lots have higher level  
22 of intact IgG than the upper limit of the quality range.

1           For heavy chain and light chain sum shown on  
2 the right, CT-P10 showed a lower percentage of heavy  
3 chain and light chain sum. Considering all the CT-P10  
4 lots analyzed that showed at least 99 percent purity,  
5 this minor difference is unlikely to have clinical  
6 impact.

7           Overall, these differences in size variants do  
8 not preclude a demonstration that CT-P10 is highly  
9 similar to U.S. Rituxan.

10           Product deamidation, oxidation, glycation,  
11 N- and C-terminal variants were evaluated and compared  
12 between the two products as part of the  
13 post-translational modification assessment. All of  
14 these post-translational modifications were similar  
15 between the two products, except for the deamidation at  
16 asparagine 365 and 388; 3 and 2 CT-P10 lots showed  
17 marginally higher levels of deamidation at asparagine  
18 365 and 388, respectively. Considering the deamidation  
19 levels are low in both products and both these  
20 asparagine residues are outside of the Fab and Fc  
21 functional groups, these minor differences do not  
22 preclude a demonstration that CT-P10 is highly similar

1 to U.S. Rituxan.

2 In conclusion, the analytical similarity data  
3 demonstrate that CT-P10 is highly similar to U.S.  
4 Rituxan notwithstanding minor differences in clinically  
5 inactive components. The analytical results add to the  
6 totality of the evidence to support a demonstration of  
7 biosimilarity between CT-P10 and U.S. Rituxan. This  
8 concludes the product quality presentation, and I'll  
9 invite Dr. Chung, who will discuss the results from the  
10 clinical pharmacology data. Thank you.

11 **FDA Presentation - Sang Chung**

12 DR. CHUNG: Good morning. My name is Sang  
13 Chung, clinical pharmacology reviewer for this  
14 submission. I will summarize the agency's assessment on  
15 the clinical pharmacology and clinical immunogenicity  
16 data. First, I will summarize the assessment on the  
17 clinical pharmacology information.

18 The core of a clinical pharmacology program is  
19 to evaluate pharmacokinetic similarity between CT-P10  
20 and U.S. Rituxan. The applicant conducted the study 3.2  
21 to evaluate PK similarity between CT-P10 and U.S.  
22 Rituxan. This study was conducted in patients with

1       rheumatoid arthritis.

2               The PK over CT-P10 and U.S. Rituxan was also  
3       compared in two other studies that were conducted in  
4       patients with advanced follicular lymphoma and low-tumor  
5       burden follicular lymphoma. The study designs will be  
6       briefly summarized from the clinical pharmacology  
7       perspective in the next slide, as the applicant has  
8       already provided details of them.

9               As indicated in the red box, part 1 of the  
10       study 3.2 was used to evaluate PK similarity between CT-  
11       P10 and U.S. Rituxan. Part 1 of the study 3.2 was a  
12       randomized, double-blind, parallel-group study conducted  
13       in 129 patients with rheumatoid arthritis. The CT-P10  
14       or U.S. Rituxan was given 2 IV doses of 1000 milligrams  
15       separated by 2 weeks and co-administered with  
16       methotrexate.

17               PK samples were collected up to 24 weeks after  
18       administration of the first dose, which was adequate to  
19       fully characterize the PK profiles. PK endpoints were  
20       AUC zero to infinity, AUC zero to last, AUC zero to  
21       14 days, and C<sub>max</sub>.

22               Study 3.3 was a randomized, double-blind,

1 parallel-group study in patients with advanced  
2 follicular lymphoma. Patients received CT-P10 or U.S.  
3 Rituxan 375 milligrams square meter, IV dose every  
4 21 days. In this study, PK samples were collected for  
5 the estimation of a trough and maximum concentration  
6 following each of dose. Four additional samples were  
7 collected in cycles 4 to derive the AUC tau.

8 Study 3.4 [indiscernible] a similar design in  
9 dose and regimen to that of a 3.3, but was conducted in  
10 patients with low-tumor follicular lymphoma. Sparse PK  
11 samples were collected for the estimation of a Ctough  
12 and Cmax following some doses. The PK data from 3.3 and  
13 3.4 are considered supportive, and thus data from these  
14 studies will not be described during my presentation.

15 This slide shows results from PK similarity  
16 study 3.2. The mean concentration time profile for each  
17 product is shown in the graph. As you can see from  
18 visual inspection, both concentration time profiles  
19 appear to be virtually superimposable.

20 Results of a statistical analysis are  
21 summarized in the forest plot. For all PK parameters,  
22 the 90 percent confidence intervals for the CT-P10 to

1 U.S. Rituxan geometric mean ratios were within the  
2 predefine acceptance range of 80 to 125 percent.  
3 Therefore, it was concluded that PK similarity was  
4 demonstrated between CT-P10 and U.S. Rituxan. This  
5 result also supports the conclusion that difference  
6 noted in high mannose contents between two products, as  
7 presented by Dr. Yan as part of the same assessment, did  
8 not result in any clinically meaningful difference in  
9 PK.

10 In summary, based on the results from  
11 study 3.2, we concluded that PK similarity was  
12 demonstrated. The PK study results support a conclusion  
13 of no clinically meaningful difference between CT-P10  
14 and U.S. Rituxan. The PK result is added to the  
15 totality of the evidence to support a demonstration of  
16 biosimilarity between CT-P10 and U.S. Rituxan.

17 The second part of the presentation is a  
18 summary of a clinical immunogenicity assessment.  
19 Clinical immunogenicity was assessed in study 3.2.  
20 While I previously described the design of study 3.2  
21 from part 1, which was used to evaluate PK similarity, I  
22 will now briefly highlight the design as related to the

1 evaluation of immunogenicity.

2 Overall, study 3.2 enrolled -- the slide  
3 doesn't move.

4 DR. RINI: We're going to have to power the  
5 computer down, so we're going to do our break now. It's  
6 9:30. Why don't we just take a 10-minute break while we  
7 fix our technical issues, and then we'll come back and  
8 continue. Thanks.

9 (Whereupon, at 9:29 a.m., a recess was taken.)

10 DR. RINI: We'll ask Dr. Chung to continue his  
11 presentation with the clinical immunogenicity  
12 assessment.

13 DR. CHUNG: The second part of the presentation  
14 is a summary of a clinical immunogenicity assessment.  
15 Clinical immunogenicity was assessed in study 3.2.  
16 While I previously described the design of study 3.2  
17 from part 1, which was used to evaluate PK similarity,  
18 I'll now briefly highlight the design as it relates to  
19 the evaluation of the immunogenicity.

20 Overall, study 3.2 enrolled 312 patients with  
21 rheumatoid arthritis and 2 courses of treatment were  
22 administered. The development of anti-drug antibodies

1 and neutralizing antibodies were mentored for the  
2 immunogenicity assessment in this study. Immunogenicity  
3 samples were collected at baseline, defined as week  
4 zero, week 24, and 48 post-dose, which correspond to  
5 each treatment course duration. The study design  
6 allowed for a comparative assessment of immunogenicity  
7 between CT-P10 and U.S. Rituxan in a sensitive  
8 population.

9 The clinical incidence of anti-drug antibodies  
10 and neutralizing antibodies are summarized in the table  
11 on this slide. As described in the table, at baseline,  
12 following through months with CT-P10 and U.S. Rituxan,  
13 there were small numerical differences in the incidence  
14 of ADA and neutralizing antibodies between arms at each  
15 monitoring time point that is week zero, 24, and 48.

16 However, it is concluded that these differences  
17 are unlikely to result in clinically meaningful  
18 differences as the incidence difference between two  
19 products is small and shows inconsistent trends among  
20 the sampling time points. Furthermore, there was no  
21 apparent impact of immunogenicity on PK parameters from  
22 study 3.2.

1           In conclusion, clinically immunogenicity in  
2 study 3.2 was assessed using validated assays. Similar  
3 immunogenicity results were observed between CT-P10 and  
4 U.S. Rituxan in study 3.2. The data supports a  
5 demonstration of no clinically meaningful differences in  
6 immunogenicity between CT-P10 and U.S. Rituxan.

7           This concludes the agency's assessment of the  
8 clinical pharmacology and immunogenicity data. Thank  
9 you for your attention, and I'd like to introduce the  
10 next speakers.

11                           **FDA Presentation - Rachel Ershler**

12           DR. ERSHLER: Good morning. My name is Rachel  
13 Ershler, and along with my colleague Dr. Cindy Gao, we  
14 will be presenting the clinical efficacy and safety  
15 results. Two clinical studies were used for evaluation  
16 of safety and efficacy. The initial submission included  
17 data from studies CT-P10 3.3, which was conducted in 140  
18 subjects with advanced follicular lymphoma.

19           The current submission included a safety update  
20 from study 3.3 as well as safety and efficacy data from  
21 studies CT-P10 3.4, which was conducted in 258 subjects  
22 with low-tumor burden follicular lymphoma.

1 I will now go into these in more detail.  
2 Study CT-P10 3.3 was a randomized, double-blind,  
3 active-controlled, parallel-group study in patients with  
4 advanced follicular lymphoma. Subjects were randomized  
5 1 to 1 to receive either CT-P10 or U.S. Rituxan in  
6 combination with CVP chemotherapy. The primary endpoint  
7 was noninferiority based on ORR with a prespecified  
8 margin of 7 percent.

9 Study CT-P10 3.4 is a randomized, double-blind,  
10 active-controlled, parallel-group study in patients with  
11 low-tumor burden follicular lymphoma. In this study,  
12 subjects were randomized 1 to 1 to receive either CT-P10  
13 or U.S. Rituxan. The primary objective was to  
14 demonstrate that there are no clinically meaningful  
15 differences between the products by using an equivalence  
16 test.

17 This study is currently ongoing, however,  
18 analyses of safety and efficacy up to the 7-month  
19 assessment were included in this application.

20 I will now turn it over to my colleague,  
21 Dr. Cindy Gao, who will discuss the clinical efficacy  
22 findings.

**FDA Presentation - Cindy Gao**

DR. GAO: Thank you, Dr. Ershler.

Good morning, everyone. My name is Cindy Gao, and I will present FDA's review of clinical efficacy based on study 3.3 and study 3.4. Today, my presentation will follow the regulatory review history, study 3.3 first, followed by study 3.4. Please keep in mind the clinical efficacy will be primarily supported by study 3.4, while study 3.3 provides additional supportive information.

Study 3.3 was designed as a noninferiority study with ORR as the primary endpoint. The planned sample size with 134 patients provided 80 percent of power to demonstrate noninferiority of CT-P10 versus U.S. Rituxan. The noninferiority margin for ORR difference was prespecified as negative 7 percent, which represents half of the effector size of U.S. Rituxan in the study Marcus 2005. Noninferiority is demonstrated if the lower bound of 90 percent confidence interval of ORR difference is greater than the noninferiority margin.

FDA identified the following concerns in

1 study 3.3. First, FDA considered that equivalence  
2 design is generally more appropriate than a  
3 noninferiority design to support a demonstration of no  
4 clinically meaningful differences for this patient  
5 population.

6 Second, as the study 3.3 is designed to  
7 demonstrate noninferiority only, it's likely to be  
8 underpowered to demonstrate equivalence of CT-P10 versus  
9 U.S. Rituxan. And third, sensitivity of the advanced  
10 follicular lymphoma population to detect the differences  
11 between the products could be influenced by the presence  
12 of concurrent background chemotherapy.

13 Shown in these slides are our analysis results  
14 of ORR assessed by the central review. The lower bound  
15 of the 90 percent confidence interval of the ORR  
16 difference is negative 1.7 percent and is greater than  
17 the prespecified noninferiority margin. Therefore, the  
18 results show that CT-P10 is noninferior to U.S. Rituxan.  
19 However, as the study is designed to show noninferiority  
20 only, inference regarding equivalence will be post hoc  
21 and most likely underpowered.

22 In the resubmission, the applicant included

1 updated data of duration response with longer follow-up  
2 time. The Kaplan-Meier curves of the duration of  
3 response are shown in this figure. Please know that  
4 these are not meant for comparative inferences as this  
5 represents only responders. But of those who responded,  
6 duration of response appears to be similar in the two  
7 groups.

8           Following FDA's recommendation, the applicant  
9 added a comparative clinical study, 3.4, of CT-P10 or  
10 U.S. Rituxan monotherapy in patients with low-tumor  
11 burden follicular lymphoma in the resubmission.

12           In this study, the equivalence margin was used  
13 to assess whether there's a clinical meaningful  
14 difference between the two products. The equivalence  
15 margin for ORR difference was prespecified as negative  
16 70 percent to positive 70 percent, and 70 percent is  
17 approximately 23 percent of the effect size of U.S.  
18 Rituxan in study Ardesna 2014. Equivalence is  
19 demonstrated if 90 percent of confidence interval of the  
20 ORR difference is within the prespecified margins.

21           This slide shows the primary analysis results  
22 of ORR assessed by the central review. The 90 percent

1 confidence interval is within the prespecified  
2 equivalence margins. Therefore, equivalence of CT-P10  
3 versus U.S. Rituxan was demonstrated.

4 Shown in these slides are the sensitivity  
5 analysis results of missing data. In contrast to the  
6 primary analysis that assumes the patients with missing  
7 response status as nonresponders, this sensitivity  
8 analysis assume that various numbers of the patients are  
9 responders in either arm.

10 In all cases, including the most extreme case  
11 in the bottom-left corner of the table, 90 percent  
12 confidence interval for the ORR difference were with the  
13 equivalence margins, showing that robust results were  
14 obtained from the sensitivity analysis of missing data.

15 In summary, FDA's review of clinical efficacy  
16 was based on study 3.3 and study 3.4 Study 3.3 was  
17 designed to demonstrate noninferiority of CT-P10 versus  
18 U.S. Rituxan. The lower bound of 90 percent confidence  
19 interval of the ORR difference is greater than the  
20 margin. Therefore, noninferiority is demonstrated.  
21 However, the study was not designed to demonstrate  
22 equivalence, so influence regarding equivalence will be

1 post hoc.

2 Study 3.4 was designed to demonstrate the  
3 equivalence of CT-P10 versus U.S. Rituxan. The  
4 90 percent confidence interval of the ORR difference was  
5 within equivalence margins. Therefore, equivalence was  
6 demonstrated. Equivalence was also supported by the  
7 robust results from sensitivity analysis of missing  
8 data.

9 This concludes FDA's review of clinical  
10 advocacy. Next, Dr. Ershler will continue to present  
11 FDA's review of clinical safety.

12 **FDA Presentation - Rachel Ershler**

13 DR. ERSHLER: Thank you. I will now present  
14 the clinical safety results. A total of 140 subjects  
15 were randomized and treated in study CT-P10 3.3, 70 in  
16 each treatment arm. This table depicts the overall  
17 safety findings from study 3.3. The majority of  
18 subjects in both treatment groups experienced at least  
19 one treatment-emergent adverse event.

20 There were more subjects with grade 3 and  
21 higher AEs and at least 1 SAE in the CT-P10 treatment  
22 group. However, the trial design was such that the

1 numbers were small and did not allow for a rigorous  
2 assessment of safety comparisons between the two  
3 treatment groups. In addition, the differences observed  
4 were likely confounded by the use of CVP backbone  
5 chemotherapy as well as differences in the baseline  
6 occurrence of bone marrow involvement of disease.

7 This table depicts the summary of  
8 treatment-emergent adverse events in the safety  
9 population. More subjects in the CT-P10 treatment group  
10 experienced neutropenia. However, approximately 64  
11 percent of subjects in the CT-P10 arm had bone marrow  
12 involvement at baseline compared to 47 percent in the  
13 U.S. Rituxan arm.

14 The observed difference in the incidence of  
15 neutropenia in study 3.3 may be attributable to the  
16 difference in bone marrow involvement between the two  
17 treatment groups. The remainder of the adverse events  
18 were similar in both treatment groups.

19 This table depicts the serious adverse events  
20 by Preferred Term that occurred in at least two subjects  
21 and either treatment group. Thirty percent of subjects  
22 in the CT-P10 treatment group experienced an SAE

1 compared to 18.6 percent in the U.S. Rituxan. The most  
2 common SAEs were lower respiratory tract infections,  
3 pneumonia, and febrile neutropenia. The SAEs not listed  
4 in this table were heterogeneous and from a variety of  
5 system organ classes.

6 In study CT-P10 3.4, a total of 258 subjects  
7 were randomized and received treatment, 130 in the CT-  
8 P10 arm and 128 in the U.S. Rituxan. There were  
9 slightly more grade 3 and higher adverse events, serious  
10 adverse events, drug discontinuations due to  
11 treatment-emergent adverse events, and deaths in the  
12 CT-P10 arm. However, the numbers of subjects  
13 experiencing these findings were small.

14 This table illustrates the most common  
15 treatment-emergent adverse events that occurred in at  
16 least 3 percent of patients in either treatment group.  
17 The most common adverse events in both groups were  
18 infusion-related reactions, fatigue, diarrhea, upper  
19 respiratory tract infection, and nausea. The overall  
20 incidence of adverse events was similar in the two  
21 treatment groups.

22 There were no clinically meaningful differences

1 in the occurrence of adverse events between the two  
2 groups. Of note, the difference in the incidence of  
3 neutropenia that was seen in study 3.3 was not  
4 reproduced in study 3.4.

5 This table illustrates the serious adverse  
6 events that occurred in each treatment arm. There were  
7 slightly more SAEs in the CT-P10 treatment arm.  
8 However, the numbers were small, and as you can see,  
9 several of these were due to extraneous causes such as  
10 squamous cell carcinoma of the lung and gastrointestinal  
11 surgery.

12 Major events of special interest that are  
13 listed as warnings and precautions in the U.S. Rituxan  
14 label include infections, infusion reactions, cardiac  
15 adverse reactions, severe mucocutaneous reactions, and  
16 progressive multifocal leukoencephalopathy or PML.  
17 Infections and infusion reactions were observed in both  
18 treatment arms with no imbalances. There were a few  
19 cardiac disorders in both treatment groups, again, with  
20 no imbalances. There were no cases of severe  
21 mucocutaneous reactions or PML in either study.

22 In summary, the safety monitoring in both

1 clinical studies was adequate. There were no relevant  
2 differences in the number of subjects who experienced  
3 treatment-emergent adverse events in either study.

4 In study CT-P10 3.3, there were differences  
5 between the two treatment groups that may be  
6 significant. In particular, more subjects experienced  
7 neutropenia, grade 3 or higher adverse events, and SAEs,  
8 and more subjects discontinued treatment due to an  
9 adverse event. However, the study design did not allow  
10 for a rigorous assessment of the safety comparisons  
11 between the two treatment groups, and the differences in  
12 neutropenia may have been due to the higher incidence of  
13 bone marrow involvement at baseline in subjects in the  
14 CT-P10 arm.

15 These differences were identified as clinical  
16 deficiencies in the CR letter. With the current  
17 application, the applicant included data from study 3.4,  
18 which included a larger patient population, and the  
19 results were not confounded by the use of concomitant  
20 chemotherapy. The differences in safety findings from  
21 this study were not as pronounced. Taken together, the  
22 data from the two studies did not suggest meaningful

1 differences between CT-P10 and U.S. Rituxan.

2 I will now discuss the overall FDA findings.

3 The analytical data using an array of analytical methods  
4 demonstrate that CT-P10 is highly similar to U.S.  
5 Rituxan notwithstanding minor differences in clinically  
6 inactive components. Pharmacokinetic immunogenicity and  
7 clinical efficacy data support a demonstration that  
8 there are no clinically meaningful differences between  
9 CT-P10 and U.S. Rituxan.

10 From a clinical perspective, there were  
11 concerns that the safety results from study CT-P10 3.3  
12 may not have provided support of a demonstration of no  
13 clinically meaningful differences for the targeted  
14 oncology populations. However, study CT-P10 3.4  
15 adequately addressed these concerns. Overall, the  
16 safety and efficacy data from the clinical studies  
17 support the assertion that there are no clinically  
18 meaningful differences between the two products.

19 The analytical data demonstrate that CT-P10 is  
20 highly similar to U.S. Rituxan notwithstanding minor  
21 differences in clinically inactive components. The data  
22 demonstrate no clinically meaningful differences between

1 CT-P10 and U.S. Rituxan in terms of safety, purity, and  
2 potency of the product. The totality of evidence  
3 supports that CT-P10 can be licensed as a biosimilar to  
4 U.S. Rituxan for the indications being sought.

5 Based on the applicant and FDA presentations,  
6 we request that the advisory committee consider the  
7 following discussion points. One, discuss whether the  
8 evidence supports a demonstration that CT-P10 is highly  
9 similar to U.S. Rituxan notwithstanding minor  
10 differences in clinically inactive components;  
11 2) discuss whether the evidence supports a demonstration  
12 that there are no clinically meaningful differences  
13 between CT-P10 and U.S. Rituxan; 3) discuss whether  
14 there's adequate justification to support licensure for  
15 the proposed indications being sought by the applicant.

16 We have the following voting question for the  
17 committee. Does the totality of evidence presented  
18 support licensure of CT-P10 as a biosimilar to U.S.  
19 Rituxan for the following indications. Thank you.

20 **Clarifying Questions to Presenters**

21 DR. RINI: Thank you. We'll now take  
22 clarifying questions from the committee to any of the

1 presenters. If you have a question, just wave at Lauren  
2 or I, and we'll write your name down and get to you in  
3 turn. Remember to state your name for the record before  
4 you speak, and if you can, direct your questions to a  
5 specific presenter. Dr. Hancock is going to lead us  
6 off.

7 DR. HANCOCK: Thank you. I'd like to begin by  
8 asking some analytical questions, so if I could have the  
9 appropriate -- to begin with, it was presented that this  
10 is an extremely large protein with many amino acids. So  
11 my question is with the characterization of the peptide  
12 map by LC-MS.

13 I assume you used several different protease  
14 enzymes. My question is, did you find mass spec  
15 evidence for every amino acid present in the protein  
16 backbone?

17 DR. KUDRIN: Thank you very much. I'd like to  
18 invite Dr. Pollitt to respond to this.

19 DR. POLLITT: Thank you for the question. Yes,  
20 when we count up peptide mapping, we do get full  
21 coverage, and we do use all three enzymes to obtain that  
22 coverage. So it does give us confidence that we have

1 looked at the whole amino acid sequence.

2 DR. HANCOCK: Right, and no amino acid  
3 substitutions.

4 DR. POLLITT: No, indeed. We don't detect any  
5 amino acid substitutions in this product, no.

6 DR. HANCOCK: If I could switch my questions to  
7 the cysteine residues, did you see any trisulfide in  
8 your product?

9 DR. POLLITT: No. We don't see any  
10 trisulfides. None were detected, and we do have the  
11 same level of free thiols, and the same disulfide bond  
12 positions were detected as well.

13 DR. HANCOCK: Thank you. With the free  
14 sulfhydryl, did you see an increased amount on your  
15 stability study? Because that could be more reactive  
16 then.

17 DR. POLLITT: We don't see significant changes  
18 in free thiols as far as I can remember on stability or  
19 in -- we did see -- actually, I don't think we included  
20 free thiols in the stability studies. It may have been  
21 included in the forced degradation.

22 DR. HANCOCK: Then if I can move to the size

1 exclusion assay where you're doing comparisons, did you  
2 look at the recovery of material after the size  
3 exclusion assay since aggregate material could be bound  
4 to the size exclusion column, and therefore distort the  
5 results?

6 DR. POLLITT: Indeed, we understand, but, no,  
7 we don't do that as standard. We do validate that  
8 method according to ICH criteria. So it is fully  
9 validated for robustness as well. But we don't do  
10 recovery on that particular assay asset as such. It's  
11 rather done as a prevalidation of the assay.

12 DR. HANCOCK: All right. So in the  
13 prevalidation, you would do recovery to make sure that  
14 there's not loss of a particularly significant variant.

15 DR. POLLITT: For the validation includes  
16 precision, specificity, accuracy, linearity range, as  
17 well as the robustness of these assays, so that's what  
18 we would have included. We also include stress samples  
19 to show that the assay is capable of detecting what we  
20 think we're detecting, and also we use relevant targets  
21 and things, in the other assay systems, to make sure  
22 that those are working properly.

1 DR. HANCOCK: Yes. By orthogonal measurements,  
2 you could get a sense of whether there's loss of  
3 [indiscernible]. It's always a concern in these types  
4 of assays.

5 My final comment, with the increase in the Man5  
6 structure, did you try the reverse transcription qPCR  
7 assay to get a quantitation of the glycosyltransferases  
8 in your cell line?

9 DR. POLLITT: No. We don't look at those  
10 specifically, but rather we look at what the impact of  
11 the high mannose is on the activity. So we do  
12 artificial samples at artificially high levels of  
13 afucosylated glycans. That doesn't necessarily mean  
14 just the high mannos, but these are also G-zero as well.

15 We create these artificial samples in the lab  
16 to look at what the impact is of those afucosylated  
17 glycans on assay gamma receptor 3a binding affinity an  
18 ADDC. And as you can see, the samples -- these are the  
19 highly afucosylated samples. We can see  
20 the -- relationship [mic fades.] But when we look at  
21 the actual data from our similarity -- it includes all  
22 15 lots of each product. You can see there is no

1 specific impact at the level -- [ mic fades] in the  
2 product.

3 DR. HANCOCK: Yes. I can see your data. It  
4 was I think more in terms of understanding your cell  
5 line, and this could be a development project where the  
6 glycosyltransferases would help you control the  
7 glycosylation preps in future development work.

8 Thank you for your responses.

9 DR. RINI: Thank you. Dr. Hawkins?

10 DR. HAWKINS: Randy Hawkins, consumer  
11 representative. We appropriately reference the  
12 Biological Price Competition and Innovation Act of 2009.  
13 My question probably is the applicant.

14 What is a primary goal of this act,  
15 specifically the price competition portion? And if CT-  
16 P10 is approved, what would be the benefit to the  
17 consumer?

18 DR. KUDRIN: Thank you very much. We have our  
19 team representative, Adam George.

20 DR. GEORGE: So I'd actually like to have my  
21 colleague Deb Blake address this.

22 MS. BLAKE: Good morning. Deborah Blake, Teva

1       Pharmaceuticals. goal of biosimilars is to increase  
2       access and to decrease costs. So the reason we're  
3       bringing forth CT-P10 to you today is because our goal  
4       is to decrease the cost of biologics, specifically of  
5       that of rituximab.

6               DR. RINI: Dr. Karara?

7               DR. KARARA: My question relates to the Ctrough  
8       values that you obtained, the rituximab trough values.  
9       The literature shows that patients on rituximab who  
10      respond have higher values, Ctrough values, compared to  
11      patients who do not respond. Typically, patients who  
12      respond have values greater than 25 micrograms per mL,  
13      compared to the patients who don't respond has median  
14      values maybe in the single digits, maybe about  
15      6 micrograms per mL.

16              So my question with regards to your study 3.3  
17      and 3.4, you didn't measure trough values after dosing.  
18      Have you looked at the patient who did not respond or  
19      the group of patients that had a partial response, their  
20      exposure, the trough values versus the patient who  
21      responded?

22              DR. KUDRIN: Right. Thank you very much. We

1 have done extensive analysis of PK, whilst of course  
2 these data were considered by DA [ph] supportive. That  
3 is the analysis we have done, lots of different analyses  
4 of secondary PK parameters, which showed a similar  
5 pattern between CT-P10 and Rituxan.

6 We haven't done a correlation analysis in terms  
7 of exposure efficacy relationship between overall  
8 response and Ctough levels. But when we examine  
9 Ctough levels -- and I'd like to show you the data off  
10 the sparse PK in 3.4 from core presentation. You can  
11 see overlap in PK. Even that was a sparse evaluation of  
12 PK, so obviously this was not assessed by 80 to 125  
13 criteria. PK was superimposable.

14 When we take into account equivalence results  
15 data from the overall response assessment based on  
16 imaging, it's quite an astringent, two-side symmetrical  
17 margin we use. And together with that sort of PK  
18 observed in the low-tumor burden follicular lymphoma  
19 class, overall response assessment -- may I have overall  
20 response data from the 3.4 study, with emphasis on  
21 complete response, the histogram from core presentation?

22 Even when we take the complete response

1 proportions there, it gives us confidence that there are  
2 no really difference between products in terms of  
3 efficacy, and there are no PK concerns which could have  
4 led to any concerns in efficacy.

5 DR. KARARA: Well, the literature seems to  
6 suggest that the levels of -- if you go back to this  
7 slide that you showed, the levels are about 19  
8 micrograms per mL across the two groups. The literature  
9 seems to suggest for successful therapy, maintaining a  
10 level of about 25 microgram per mL. Even in the patients  
11 that have a higher tumor burden, they would require even  
12 higher exposure than in patients with lower tumor burden  
13 they had in their study.

14 DR. KUDRIN: Thank you. We're aware that there  
15 were publications by Berinstein, and then subsequent  
16 publications have actually been questioning whether  
17 there is a valid threshold which can be used for  
18 therapeutic monitoring in patients with lymphoma.

19 I'll show you where that -- over years, this  
20 was a debate where therapeutic monitoring of rituximab  
21 should be used in patients with lymphoma. For example,  
22 Ternon's paper published modeling and indicated that

1 potentially gamma receptor [indiscernible] should be  
2 looked at.

3           Nevertheless, over years, therapeutic  
4 monitoring didn't gain momentum in oncology because  
5 different assays use different types of bi-analytical  
6 assays and readouts. So we relied on our validated,  
7 using state-of-art methodology. And based on our data,  
8 PK was similar, and obviously efficacy support this  
9 using centralized reading.

10           DR. KARARA: Yes, I agree the PK similarity is  
11 very convincing. My question was related to have you  
12 examined the patients who did not respond, their trough  
13 levels versus the ones that responded? Have you looked  
14 at that group?

15           DR. KUDRIN: No, we didn't.

16           DR. RINI: Dr. Halabi?

17           DR. HALABI: Susan Halabi. I have some  
18 questions that I would appreciate some clarification on.  
19 Study CT-P10 3.4 had three stratification variables:  
20 region, stage, and age. The primary analysis that you  
21 presented was based on the exact binomial test, so one  
22 question I have is whether an analysis based on the

1 logistic regression and looking at adjusting for these  
2 factors, whether the 90 percent confidence interval fell  
3 within the region of plus and minus 17.

4 I would appreciate clarification on that, and I  
5 have a few other questions after that.

6 DR. KUDRIN: Thank you very much. Dr. Sang Joon  
7 Lee, statistician.

8 DR. LEE: Sang Joon Lee, statistician at  
9 Celltrion, [indiscernible] SVP. Yes, we investigated  
10 the adjusted logistic regression by the stratification  
11 factors. As you can see in the picture, the 90 percent  
12 confidence interval also shows therapeutic equivalence  
13 within equivalence margin of plus/minus 17 percent.

14 DR. HALABI: Thank you. The other questions  
15 were related to the per protocol analysis, so if we can  
16 have that slide again, because I wanted to make sure  
17 that the 90 percent confidence interval falls entirely  
18 within plus and minus 17. Thank you.

19 DR. LEE: In this slide, you see a per protocol  
20 population [indiscernible]. As we can see here, lower  
21 bound is minus 4.56 percent and upper bound is  
22 11.56 percent. And the confidence interval, the upper

1 bound is within the equivalence margin, plus/minus  
2 17 percent.

3 DR. HALABI: Thank you.

4 DR. RINI: Dr. Long?

5 DR. LONG: I have questions about the ADCC  
6 assay and the CP assay described on pages 57 to 160 of  
7 the Celltrion proposal.

8 DR. KUDRIN: Dr. Pollitt will address this  
9 question.

10 DR. LONG: Okay. You use two ADCC assays. One  
11 was with peripheral blood mononuclear cells, and the  
12 targets of the tumor cell Raji with or without the CD20  
13 antibody. It's described as an assay done with an  
14 effective target ratio of 16 to 1, but the time of  
15 incubation is not given.

16 DR. POLLITT: The time of the incubation for  
17 that, which we consider to be the classical ADCC assay,  
18 is 4 hours.

19 DR. LONG: Okay. The second essay is using a  
20 reporter, in this case, the effector to target ratio is  
21 2, principally because it's a unique -- there's only one  
22 effector cell. What was the length of this essay?

1 DR. POLLITT: I think it's actually longer, but  
2 I would need to check the exact time on that. I think  
3 the important thing is that we've looked at ADCC using  
4 two different assay systems, both the sensitive reporter  
5 assay system, which actually measures signaling from the  
6 assay gamma receptor 3a and also the classic assay,  
7 which actually gives us cells there, and showing that we  
8 actually have equivalent in the case of the classical  
9 assay and highly similar in the case of the reporter  
10 assay activity.

11 These are the standard assays. The reporter  
12 assay, by the way, is available. It's a kit that you  
13 can buy.

14 DR. LONG: Okay. So the ADCP assay measures  
15 phagocytosis by macrophages. In this case, the ratio of  
16 the macrophages to the target is not given. And again,  
17 what is the time?

18 DR. POLLITT: Absolutely. What we do is we  
19 look to make sure that our assay are suitably sensitive  
20 to detect a difference. When we're developing the  
21 assays, we look at different effector target ratios, and  
22 what we look for is the most sensitive, at which point

1 the assay is most sensitive. And we then use that  
2 effector to target ratio for all of the studies.

3 Again, the phagocytosis is actually longer;  
4 that's in several days. And obviously, we know that  
5 we've got phagocytosis because we've got the double  
6 labeling. Again, the important thing is that this is a  
7 validated assay and that it does show high similarity  
8 between the two products in this activity.

9 DR. LONG: It's expressed as the percent,  
10 phagocytosis, but it doesn't say whether it's the  
11 percent of macrophages that have phagocytosis or the  
12 percent of target cells that have been phagocytosis. Do  
13 you have figure 81? Maybe that will help.

14 DR. POLLITT: It's relative percent. So all of  
15 our assays against an in-house reference standard, we  
16 use that because obviously we can't get 45 samples on a  
17 plate. So we use that to take out assay to assay  
18 variability. The in-house reference standard is being  
19 used. It's the same reference standard in all of the  
20 assays. And as I say, it's just there to remove some of  
21 the variability of the system. So it's all relative  
22 percentage to the internal reference standard

1 DR. LONG: Because the phagocytosis doesn't  
2 reach even 30 percent, so what does that 30 percent? I  
3 mean, it looks like it's not very efficient.

4 DR. POLLITT: In the dose-response curves for  
5 phagocytosis, yes, that's correct. It's relatively low,  
6 and as I say, we have optimized that system through E to  
7 T [ph] ratios to try to get the highest signal that we  
8 can.

9 So when you're talking about the 30 percent  
10 you're talking about there in the dose-response curves,  
11 it's actually the absolute cell death. That is not a  
12 relative number. But all of the assays and the  
13 similarity data are based on the relative number.

14 DR. LONG: Okay. Thank you.

15 DR. RINI: Dr. Klepin?

16 DR. KLEPIN: Thank you. I have two questions.  
17 The first relates to safety data. In study 3.3, there  
18 were some safety signals, particularly the neutropenia,  
19 that was discussed, and some of the explanation around  
20 why there may have been differences observed related to  
21 the small sample size, so the differences in bone marrow  
22 involvement that were not taken care of at

1 randomization.

2 Can you show us any data that would support the  
3 hypothesis that those patients with bone marrow  
4 involvement were the ones driving the neutropenia?

5 DR. KUDRIN: Thank you very much. I'd like to  
6 show you the analysis post hoc, which were done to  
7 address the issue of difference in terms of neutropenia.  
8 And we also did an analysis for serious adverse events.

9 Here you can see that this is actually on the  
10 right showing you what imbalance, what occurred at the  
11 baseline, which is not necessarily surprising not just  
12 given the small size of the study, but the fact that  
13 even with stratification criteria, we did not have bone  
14 marrow stratification at baseline.

15 You can see that once the neutropenia imbalance  
16 has occurred, it clearly was not prominent in the  
17 maintenance period, but also the fact that there were no  
18 grade 3 neutropenia differences and also serious  
19 neutropenia and prolonged neutropenia.

20 So when we look at the analysis of patients by  
21 bone marrow involvement, this is the presentation of  
22 patients who had bone marrow involvement, and you can

1 see how this is presented here. So there are more  
2 patients with bone marrow involvement with neutropenia.

3 May I have also analysis of neutropenia and  
4 infections, please? When we look at how -- this also  
5 could be helpful to give you insight in terms of serious  
6 adverse events by bone marrow involvement, which shows  
7 slight imbalance in positive patients. Similarly, Ann  
8 Arbor stage 4 were more prominent in CT-P10 group. And  
9 in that subanalysis, we have seen also imbalance, which  
10 actually clearly shows that more patients with stage 4  
11 will have serious adverse events and adverse events due  
12 to discontinuation.

13 So then we questioned -- obviously, we were  
14 concerned about it and we wanted to know whether this  
15 neutropenia difference was clinically impactful. So  
16 when we look at the infection distribution in patients  
17 with and without neutropenia, you can see that actually  
18 this wasn't -- the difference in the neutropenia group,  
19 there was no really adverse difference in terms of  
20 infections in neutropenia versus non-neutropenia groups.  
21 And similarly, there was a really marked increase in  
22 terms of study-related infections.

1 DR. KLEPIN: Thanks. The second question  
2 relates to study 3.2, which was used for the  
3 immunogenicity data. In the eligibility criteria, there  
4 is an upper age limit on that of 75 as a cutoff. And  
5 I'm curious if there was a scientific rationale for  
6 that.

7 DR. KUDRIN: I would have to come back to you  
8 on the eligibility criteria reasoning for the upper  
9 cutoff.

10 Dr. Strand, would you be able to comment on  
11 this?

12 DR. STRAND: Vibeke Strand, Division of  
13 Immunology and Rheumatology at Stanford University. The  
14 rheumatoid arthritis study was designed to be comparable  
15 to the original Cohen study that actually validated the  
16 use of rituximab in rheumatoid arthritis. So they  
17 specifically made the criteria that they would meet the  
18 1987 diagnostic criteria for rheumatoid arthritis, and  
19 they copied the same advanced age of 75, although now  
20 newer trials used the 2010 criteria and also use a  
21 higher age limit.

22 DR. KUDRIN: This is to address

1 [indiscernible].

2 DR. RINI: Thank you. Dr. Uldrick?

3 DR. ULDRICK: I have two questions related to  
4 the study design for 3.3 and 3.4. My first question is  
5 for the FDA. I was wondering if you could comment on  
6 the preference of an equivalent study design over a  
7 noninferior study design, and what concerns, if any, we  
8 should have in interpreting the noninferiority findings  
9 in 3.3.

10 DR. GAO: Cindy Gao, statistical reviewer, FDA.  
11 FDA performed a sample size calculation in several  
12 scenarios, with the assumption in this study population  
13 they would need a much larger sample size demonstrate  
14 equivalence. So FDA preferred low-tumor burden  
15 follicular lymphoma study population. Because the study  
16 3.3 was designed as a noninferiority study, emphasis  
17 regarding equivalence would be post hoc.

18 DR. SRIDHARA: This is Raji Sridhara again from  
19 FDA. I want to clarify that for the biosimilar, we do  
20 ask for equivalence. At the beginning, many of the  
21 studies were being designed as noninferiority studies,  
22 so you do see some of them having a noninferiority

1 hypothesis.

2           The problem with noninferiority hypothesis is  
3 if they show noninferiority, then they could go ahead  
4 and show superiority. So there is a possibility that it  
5 can be superior, whereas the idea in biosimilar is that  
6 they are equal and neither superior nor inferior.

7           DR. ULDRICK: Thank you. My second question is  
8 for the sponsor. The results in both studies were  
9 actually quite similar, but I was curious as to the  
10 assumptions that went into the differences in the  
11 margins for noninferiority versus equivalence, the  
12 7 percent versus 17 percent.

13           DR. KUDRIN: Okay. We have statistician here,  
14 Dr. Sang Joon Lee. Thank you.

15           DR. LEE: The concept of defining margin is  
16 same, however, to find the mentioned corresponding  
17 study, the margin comes out different number. For 3.3  
18 study, the matching study, which is responding to design  
19 to our study, is Marcus 2005. And as you can see  
20 here -- I have to use statistical terminology a little  
21 bit. But when we define margin, we use to terms, M1 and  
22 M2. M1 is effect size and M2 is the retention rate.

1           So if I speak in an easy way, first to show  
2 effect size from the historical data, we estimate what  
3 is the effectiveness of reference drug? Here,  
4 Marcus [indiscernible] study, as we can see here, risk  
5 difference turns out to be 24.3 percent. That can be an  
6 estimate. However, to be more conservative, we use 95  
7 confidence interval, even lower bound. Therefore,  
8 14.5 percent turns out to be effect size, which is a  
9 very conservative estimate of Rituxan.

10           Now, to show assay sensitivity, which is  
11 [indiscernible], in fact, the old history, therefore, to  
12 use the retention rate 50 percent, here it comes out to  
13 be 7.5 percent. Even the more conservative way -- let  
14 me go back to the previous screen. I didn't complete  
15 that part yet. So the 7 percent margin comes out to be  
16 50 percent, 52 percent of retention rate. Therefore, in  
17 3.3, 7 percent is considered to be noninferiority  
18 margin.

19           Now, let's move on to 3.4 study, where it comes  
20 up to be plus/minus 17 percent. Let's show the primary  
21 reserve first. Before moving on to the margin, let me  
22 show you the reserve first. As we can see here, the

1 predefined margin was plus/minus 17 percent. However,  
2 the reserve is very tight. We can meet the 11 percent  
3 margin as well.

4 Now, let me explain why we define margin of  
5 plus/minus 70 percent. There was only one study  
6 addressed in 2014 using low-tumor burden follicular  
7 lymphoma with a maintenance period with randomized  
8 controlled study. In here, the same concept just as I  
9 explained in 3.3 study here: estimated difference, which  
10 is the effect size, Rituxan is 82 percent. Using  
11 Emmon [ph], which is the 90 percent confidence interval  
12 or lower bound, it came out to be 75.3 percent.

13 Now in general, we use a retention rate of 50  
14 percent to define equivalence margin, but we had a  
15 discussion with FDA to show clinically meaningful  
16 difference with our [indiscernible] margin, we tightened  
17 the margin using 77 percent retention rate of  
18 effectiveness of Rituxan. It comes out to be plus/minus  
19 70 percent. It was agreed with FDA as well. Thank you.

20 DR. RINI: Dr. Nowakowski?

21 DR. NOWAKOWSKI: I have two questions to ask  
22 sponsor. One is the follow-up question on the incidence

1 of neutropenia. Can you clarify if you allow growth  
2 factor support in this study? And if you did, what was  
3 the incidence of growth factor support using both arms?

4 DR. KUDRIN: Right. Thank you. We used in the  
5 protocol a discretion of investigators to use those. I  
6 don't have data by hand, and if I may come back to you  
7 after the break or after we're all here and we have  
8 chance to, if you want to see this data.

9 DR. NOWAKOWSKI: Thank you. The second  
10 question is about your global strategy and approval  
11 strategy. You discussed very nicely how the approval of  
12 a biosimilar can improve patient access to anti-CD20  
13 therapy. You are seeking the approval in low-grade, in  
14 follicular lymphoma and low-grade lymphoma. You are not  
15 seeking approval in diffuse large [indiscernible] B-cell  
16 lymphoma, which is kind of an elephant in the room.

17 I'm not sure if you're at liberty of discussing  
18 it, but could you explain your strategy of not pursuing  
19 diffuse large B-cell cell lymphoma at this point?

20 DR. KUDRIN: Thank you. So we are currently  
21 focusing on three proposed indications for intellectual  
22 property and exclusivity landscape reasons, but we have

1 two representatives who can add something on this.

2 DR. GEORGE: Adam George, Teva Pharmaceuticals.  
3 Dr. Kudrin is correct. We are seeking the three  
4 proposed indolent, non-Hodgkin's lymphoma indications  
5 because of the current patent and exclusivity landscape  
6 with Rituxan in the United States.

7 DR. RINI: Thank you. Dr. Cristofanilli?

8 DR. CRISTOFANILLI: Yes. My question is in  
9 regard, again, to equivalence for 3.3. Obviously, as  
10 was explained, there was not enough power to show  
11 equivalence, and this is obviously the goal for a  
12 biosimilar to show that there is equivalence even in  
13 advanced setting, in aggressive setting.

14 So considering that this is not about possible,  
15 when are you going to have the post doc analysis?  
16 Because from a practical perspective, the moment that  
17 you have an FDA approval, you will let oncologists use  
18 this agent in both indication that you're looking for.  
19 For the most aggressive, you have only 70 patients  
20 treated with this combination with the safety data we  
21 already know.

22 So do you think this was an appropriate

1 strategy? Do you plan to have this post doc analysis  
2 done soon? How do you support the claim of  
3 [indiscernible] equivalence between the two in the most  
4 aggressive in combination with chemotherapy?

5 DR. KUDRIN: Thank you. I would like to  
6 explain to you the nature of this global strategy and  
7 how this is being done in sequential order. We had  
8 obviously conducted this global program for support and  
9 approval also in the European Union. And the European  
10 Medicines Agency differs in terms of their perspective  
11 on what study's required for demonstration of  
12 biosimilarity.

13 So [indiscernible] tried to set up therapeutic  
14 equivalence study, which we didn't discuss in detail  
15 today, but that study showed in a very robust manner the  
16 equivalence. Plus, with the analytical data we had,  
17 conducted obviously before clinical program, we didn't  
18 have residual differences, which would have prompted us  
19 to design another equivalent study.

20 EMA wanted to see a small supportive in  
21 advanced follicular lymphoma, while the agency had  
22 reservation about advanced follicular lymphoma study and

1 wished to see low-tumor burden follicular lymphoma,  
2 which we executed.

3           So this study is a two-sided equivalence margin  
4 study, and obviously with all totality of the evidence,  
5 we don't see the need for doing additional analysis or  
6 even gaining any additional benefit out of advanced  
7 follicular lymphoma study because low-tumor burden  
8 follicular lymphoma study is large enough and supports  
9 in totality with the rest of the data demonstration of  
10 similarity.

11           DR. RINI: Thank you. Just to clarify, we're  
12 not planning on taking another break, so if you want to  
13 answer Dr. Nowakowski's growth factor question, you can  
14 do so maybe after the open public hearing.

15           DR. KUDRIN: Okay.

16           DR. RINI: You have a little bit of time.

17           Are there any other questions to any of the  
18 presenters, many of our clinical pharmacology colleagues  
19 or otherwise? Sure. Dr. Hinrichs?

20           DR. HINRICHS: I have a question for the FDA,  
21 and that is about consistency and approvals and this  
22 expedited approval process, where instead of having the

1 square-shaped development process, you have the triangle  
2 with a small number of clinical studies at the top.

3 So we're really looking at one study that  
4 showed equivalence and then one study that showed  
5 noninferiority. How does that compare to other  
6 approvals and other use of this pathway?

7 DR. FARRELL: The approval is really based on  
8 the totality derived initially from the analytical  
9 similarity. So we wouldn't even be getting to a  
10 position about design of a clinical trial until we have  
11 some confidence that the product is likely to be similar  
12 to the reference product. So it's a building on that  
13 foundation.

14 DR. HINRICHS: That wasn't quite my question.  
15 I understand that there's a building on the foundation.  
16 My question is, in approval of other biosimilars, have  
17 they been approved on the basis of -- well, the  
18 combination of all that information, but from a clinical  
19 standpoint, one equivalent study?

20 DR. LIM: Sue Lim, therapeutic biologics and  
21 biosimilars staff. As Dr. Farrell noted, the role of  
22 the clinical studies is to address any additional

1 residual uncertainties. So we take a product-specific  
2 approach and look at the totality of the data and  
3 determine whether one or more additional clinical  
4 studies are needed.

5 In this particular case, Celltrion described  
6 the regulatory history and how they designed an  
7 equivalent study in RA and EMA's request. So I would  
8 say there's no one size fits all, and there isn't a  
9 required number of clinical studies for a particular  
10 product. We look at it from a sponsor-specific  
11 perspective, as well as the perspective of what we know  
12 about the reference product.

13 DR. FARRELL: You're also thinking about  
14 mechanism of action when you're developing these  
15 products. So if you have a similar mechanism of action  
16 in another disease, you may not be requiring two trials.  
17 You may say if we've proven that we're equivalent and we  
18 know the mechanism of action is the same, we'd go with  
19 one trial.

20 DR. KOZLOWSKI: Steve Kozlowski, FDA. There  
21 may even be situations where we don't have a clinical  
22 trial at all; we simply have pharmacokinetics or

1 pharmacodynamics. And in fact, for filgrastim, that's a  
2 possibility. I think also, in many cases, companies do  
3 multiple clinical trials for their own reasons, either  
4 as mentioned, other regulatory regions or their own  
5 calculations, whereas a regulatory agency may only need  
6 enough clinical data, again, with totality of the  
7 evidence to get some verification. Because ultimately,  
8 if the products are functionally and structurally highly  
9 similar, there is only a limited amount of uncertainty  
10 left.

11 DR. HINRICHS: Thank you.

12 DR. RINI: Dr. Chen?

13 DR. CHEN: On that topic, with the biosimilar  
14 rituximab, if it is approved in low-grade B-cell  
15 lymphoma, there's going to be a tremendous temptation  
16 out in the community to use it in the non-labeled,  
17 off-label situations. Based on what you have said, you  
18 would not necessarily require a study in aggressive or  
19 diffuse large B-cell cell lymphoma or CLL. And given  
20 the data that you have here, at what point would the FDA  
21 extrapolate approvals to wider --

22 DR. GORMLEY: This is Nicole Gormley, FDA. So

1 I think, again, as was mentioned by Dr. Lim, we  
2 generally consider this based on a case-by-case basis.  
3 Right now, they're seeking approval for the three NHL  
4 indications, and off-label use is obviously encouraged  
5 but not within the purview of an application being  
6 sought right now.

7 In general, if future indications are being  
8 sought, it would be a discussion with the agency and the  
9 company about the data that is available to support  
10 those other indications and the confidence and a  
11 separate evaluation of the ability to extrapolate to  
12 other indications.

13 DR. FARRELL: We're also not making a  
14 determination about interchangeability at this time with  
15 the reference product.

16 DR. RINI: Okay. Thank you. Are there any  
17 other questions to FDA or to the applicant?

18 (No response.)

19 **Open Public Hearing**

20 DR. RINI: Okay. We'll start our open public  
21 hearing part of this meeting.

22 Both FDA and the public believe in a

1 transparent process for information-gathering and  
2 decision-making. To ensure such transparency at the  
3 open public hearing session of the advisory committee  
4 meeting, FDA believes it's important to understand the  
5 context of an individual's presentation. For this  
6 reason, FDA encourages you, the open public hearing  
7 speaker, at the beginning of your written or oral  
8 statement to advise the committee of any financial  
9 relationship that you may have with the sponsor, its  
10 product, and if known, its direct competitors.

11 For example, this financial information may  
12 include the sponsor's payment of your travel, lodging,  
13 or other expenses in connection with your attendance at  
14 this meeting. Likewise, FDA encourages you at the  
15 beginning of your statement to advise the committee if  
16 you do not have any such financial relationships. If  
17 you choose not to address this issue of financial  
18 relationships at the beginning of your statement, it  
19 will not preclude you from speaking.

20 The FDA and this committee place great  
21 importance in the open public hearing process. The  
22 insights and comments provided can help the agency and

1 this committee in their consideration of the issues at  
2 hand. That said, in many instances and for many topics,  
3 there will be a variety of opinions.

4 One of our goals today for this open public  
5 hearing is to be conducted in a fair and open way where  
6 every participant is listened to carefully and treated  
7 with dignity, courtesy, and respect. Therefore, please  
8 only when recognized by myself, and thank you for your  
9 cooperation.

10 Will speak number 1 step up to the podium and  
11 introduce yourself? Please state your name and any  
12 organization you are representing for the record.

13 DR. KOELLER: Good morning. To Dr. Rini and  
14 Dr. Tesh, the ODAC members, FDA attendees, I'd like to  
15 thank you for this opportunity to speak to you. I'm  
16 speaking on behalf of BLA 761088 CT-P10, a biosimilar  
17 for Genentech's Rituxan. From a disclosure standpoint,  
18 my travel has been covered by Celltrion. They provide  
19 no other compensation to me. I'm speaking here on my  
20 own behalf.

21 My name is Jim Koeller. I'm a full professor  
22 of pharmacy, medicine, and oncology out of the

1 University of Texas at Austin and the Health Science  
2 Center in San Antonio, Texas. I've been involved in  
3 oncology, cancer care, cancer economics for 39 years.  
4 I've been in this a long time.

5 I'm actually speaking here on behalf of this  
6 product, and I support CT-P10 as a biosimilar to  
7 Rituxan. From the data presented today from Celltrion,  
8 this product appears to be highly similar to Rituxan,  
9 thus meeting the FDA requirements for biosimilarity.

10 In addition, they did provide, in addition to  
11 the base biologic data, three separate clinical trials,  
12 including over 750 patients looking at rheumatoid  
13 arthritis in one trial, and two trials in lymphoma,  
14 evaluating both pharmacokinetics, efficacy and toxicity,  
15 and immunogenicity, and at least from my interpretation  
16 of the data, showing noninferiority/equivalence to  
17 Rituxan.

18 Again, from the totality of evidence, also, you  
19 must keep in mind that this drug has been approved and  
20 used in the EU for over a year and a half now, and it's  
21 approved in multiple other countries, so this is not an  
22 unused product. We always have to keep that in mind in

1 the U.S.

2 As mentioned earlier, Rituxan is a very common  
3 drug used in clinical practice. We use this on a daily  
4 basis at the University of Texas. And again, this has  
5 been a proprietary drug of Genentech now for 21 years.  
6 They basically have held a monopoly on this agent, able  
7 to raise prices at will, which they have actually done.

8 I've been involved in cancer drug care cancer  
9 economics now for my entire career. I can speak with  
10 some authority that the benefit of competition leads to  
11 lower drug prices. Seeing that the biologic agents have  
12 had a major impact on pharmacy budgets, most budgets in  
13 health centers run in the hundreds of millions of  
14 dollars, and the biologic agents, including mostly  
15 cancer drugs, are a big part of that. So anytime we can  
16 see competition to bring down that price, it's extremely  
17 helpful to us.

18 But this high cost of the biologics has not  
19 gone unnoticed to the patient. I can tell you from  
20 personal experience that the rise in copayments has  
21 impacted the patients, at least in the southern Texas  
22 area, significantly. And actually -- I created a new

1 term called "financial toxicity" -- financial toxicity  
2 has led to patients making decisions for drug care  
3 versus just common the common cost of living. And I can  
4 tell you, in our region, we've had numerous patients who  
5 have not been able to afford these drugs.

6 So as a pharmacist, my primary role is always  
7 providing safe and effective drugs for the patients we  
8 care for. Biosimilars seem to be providing this as  
9 highly similar to the originator. They appear to then  
10 offer a less expensive alternative for these agents.  
11 And again, any mechanism to bring down the cost or limit  
12 the increase in costs of these agencies is really  
13 welcomed in the outpatient setting and in the community  
14 setting.

15 So in summary, I look forward to being able to  
16 offer CT-P10 as an alternative to Rituxan, hopefully in  
17 the near future. Thank you for your time.

18 DR. RINI: Thank you. Speaker number 2?

19 DR. OSKOEI: Hello. My name is Sonya Oskouei.  
20 I'm the director of pharmacy program development for  
21 biosimilars with Premier. I'll start by saying I have  
22 no connection to Celltrion and was not supported by them

1 to attend today's meeting, or have any relevant  
2 financial or sponsor relationships.

3 So the Premier Healthcare Alliance thanks the  
4 FDA Oncologic Drugs Advisory Committee for the  
5 opportunity to provide comments today. Premier is a  
6 leading healthcare improvement company and unite an  
7 alliance of more than 4,000 health systems and hospitals  
8 across the country, including approximately 165,000  
9 providers and other organizations who transform  
10 healthcare. In my role, I have the unique role of  
11 leading the National Biosimilar Strategy for our  
12 organization on behalf of our healthcare members.

13 My comments today are intended to highlight the  
14 importance of biosimilars in the U.S. market and their  
15 potential impact on patient care. Premier views the  
16 accessibility of biosimilars as a key element in  
17 decreasing the cost of healthcare for the creation of a  
18 more competitive marketplace.

19 It's no secret that our nation continues to  
20 experience increases in drug prices for a range of  
21 products that are vital to patient care. Biologics,  
22 which are of course widely used in oncology practice,

1 are the most expensive drug category in the U.S. They  
2 can range from \$1000 to \$50,000 per individual or  
3 episodic treatment. In fact, according to the American  
4 Journal of Health System Pharmacy, the top five drugs  
5 based on expenditures in 2017 and nonfederal hospitals,  
6 were all biologics, accounting for over \$5 billion  
7 healthcare costs.

8 The types of conditions often treated by  
9 biologics are chronic and severe conditions that of  
10 course can impact patients for a lifetime, both  
11 clinically and financially. The introduction of  
12 biosimilars not only has the potential to reduce drug  
13 spending on biologic drugs by \$54 billion over the next  
14 10 years, but more importantly help patients with  
15 chronic illnesses access critical therapies and enhance  
16 patient outcomes.

17 To date, there have been certain policy  
18 considerations and market dynamics inhibiting biosimilar  
19 success within health systems, and the FDA continues to  
20 work with a variety of stakeholders on a broader  
21 approach to adjust these challenges, including the  
22 release of the Biosimilars Action Plan.

1           However, as the committee reviews the  
2 application before it today, Premier urges the committee  
3 to put aside any policy considerations and barriers to  
4 adoption of biosimilars and instead focus on the  
5 scientific integrity of the data to determine if the  
6 biosimilar applicant sufficiently demonstrates that it  
7 is highly similar and has no clinically meaningful  
8 differences from an existing FDA-approved reference  
9 product

10           Furthermore, as the committee reviews the  
11 application, we encourage the committee to provide clear  
12 detailed and transparent feedback regarding the  
13 scientific merit of the application to really maximize  
14 scientific and regulatory clarity for biosimilar  
15 manufacturers and further encourage the development and  
16 introduction of biosimilars in the market.

17           Lastly, we recognize the importance of  
18 gathering real-world evidence to monitor for ongoing  
19 safety and efficacy of both biologics and biosimilars,  
20 and Premier welcomes the opportunity to support ongoing  
21 safety surveillance for biologics and biosimilars  
22 through our comprehensive electronic healthcare database

1 that contains data for over 200 million unique patients.

2 This post-approval activity coupled with  
3 pharmacovigilance warranted for these products will  
4 serve as a key strategy to enhance market acceptance of  
5 biosimilars and will further influence the development  
6 of biosimilar treatment options for patients.

7 So in conclusion, we urge ODAC to, aside of any  
8 policy considerations, evaluate the application based on  
9 scientific integrity and data, and provide that clear,  
10 transparent, and detailed feedback regarding the  
11 scientific merit of the application. Again, I thank you  
12 for the opportunity to provide these comments.

13 DR. RINI: Thank you. Speaker number 3?

14 DR. PATEL: Hi. Good morning, FDA team and  
15 ODAC members. My Name is Dr. Kashyap Patel. I'm a  
16 practicing medical oncologist in the Carolinas in the  
17 suburban as well as rural locations. Celltrion has  
18 taken care of my travel. I don't have any other  
19 financial disclosures to make.

20 Apart from practicing oncology, I'm also a  
21 board member for the Community Oncology Alliance and  
22 secretary for the same group. I'm also trustee for the

1 Association of Community Cancer Centers. I'm also  
2 sitting on the CAC advisory committee for the J11 MAC  
3 Region, the Palmetto GBA Medical Contractors. And we  
4 are also one of the alternate payment sites chosen by  
5 CMMI under the title of Oncology Care Model.

6 I want to share our own experience of  
7 incorporating biosimilars in our practice with the  
8 supportive care arena. We have seen a distinct  
9 improvement in the access and affordability. Looking at  
10 the totality of evidence of CT-P10, as well as  
11 scientific data and the impact on the society, I would  
12 strongly like to support the approval of biosimilar CT-  
13 P10 with the everlasting [indiscernible] challenge of  
14 drug prices and a case study of [indiscernible]  
15 practice, which I was president of the ASCO Quality Care  
16 Symposium

17 I do feel there's definitely a place for  
18 bringing competition in a biologic arena, and I really  
19 want to make a strong case as a community practitioner  
20 as well as having a seat on several national  
21 organizations that additional biosimilars in the arena  
22 will definitely improve the access and affordability.

1 Thank you very much.

2 DR. RINI: Thank you. Speaker number 4?

3 DR. KOONTZ: Good morning, Chairman Rini,  
4 Dr. Tesh, ODAC members, and representatives from the  
5 FDA. I appreciate the privilege of coming before you  
6 today to provide comments on BLA 761088 for CT-P10, a  
7 proposed biosimilar for Genentech's rituximab submitted  
8 by Celltrion, Incorporated. Before I begin, I want to  
9 acknowledge that Celltrion supported my travel to  
10 participate in today's meeting, but they are not  
11 compensating me for my time. I have no financial ties  
12 to Celltrion, and I'm speaking here today on my own  
13 behalf.

14 My name is Suzanna Koontz, and I am the  
15 principal of Koontz Oncology Consulting in Houston,  
16 Texas. Prior to starting my consulting firm, I was the  
17 clinical pharmacy specialist for the Children's Cancer  
18 Hospital at the University of Texas MD Anderson Cancer  
19 Center, where I was a member of several teams caring for  
20 pediatric and adolescent cancer patients.

21 As an oncology pharmacist, I appreciate the  
22 complexities in ensuring the availability of medications

1 that are safe and effective. This morning we heard the  
2 totality of the evidence, including data from clinical  
3 trials involving over 600 patients observed for up to  
4 two years, suggest that the proposed biosimilar CT-P10  
5 is highly similar to the originator rituximab despite  
6 minor differences in clinically inactive compounds.

7 CT-P10 has been approved in Europe for more  
8 than a year and a half, where it was the first  
9 biosimilar utilized for a potentially curative intent  
10 in cancer patients. Today it's available in almost 50  
11 countries around the globe.

12 As an oncology pharmacist, I'm all too familiar  
13 with the rising costs of cancer therapies. In fact, in  
14 oncology, we now recognize financial toxicity as a  
15 leading cause for patients' concerns with cancer therapy  
16 along with more traditional adverse events such as  
17 nausea and vomiting and alopecia.

18 A recent report in ASCO's Journal of Oncology  
19 Practice characterized the spending on anti-neoplastic  
20 agents in the United States between 2011 and 2016.  
21 During this period, anti-neoplastic expenditures rose  
22 from 7 percent of total U.S. drug expenditures to

1 9.4 percent, with older biologics representing the  
2 largest expenditure in hospitals and clinics, and at the  
3 top of this list was rituximab with an average annual  
4 expenditure in the United States of around \$3.5 billion.

5 As an oncology pharmacist, I'm committed to  
6 cancer patients having access to life-saving  
7 medications. The approvals of biosimilars are expected  
8 to drive innovation, which in turn should drive down the  
9 costs of drugs. Such has been the case in Europe, where  
10 biosimilars have been priced approximately 30 percent  
11 less than the reference products.

12 If that same pricing model were applied here in  
13 the United States, rituximab biosimilars such as CT-P10  
14 have the potential to produce slightly more than  
15 \$1 billion in savings annually. And any savings could  
16 climb in the coming years, as we may see an increasing  
17 need for Rituxan biosimilars due to both the increasing  
18 incidence of lymphoma and patients living longer past  
19 the time of their diagnosis.

20 The ever-increasing costs of cancer care are  
21 unsustainable and continue to limit patients from  
22 receiving optimal treatments in optimal circumstances.

1 The value of biosimilars such a CT-P10 is they allow  
2 greater access to potentially curative treatments at a  
3 reduced financial burden to the healthcare system and  
4 patients alike. Thank you for your time and attention.

5 DR. RINI: Thank you. Speaker number 5?

6 DR. FUHR: Good morning, and thank you for  
7 having me. I'm Joe Fuhr. I'm a professor emeritus of  
8 economics at Widener University, and I'm a health  
9 economist, and I've published over 10 articles on the  
10 economics of biosimilars. Celltrion's supported my  
11 travel but not my time. I have no financial ties to  
12 Celltrion, and I'm speaking on my own behalf.

13 Biosimilar competition has the potential to  
14 decrease price of expensive biologics. These lower  
15 prices will increase access and decrease healthcare  
16 costs. The biosimilar market has some similarities to  
17 the generic market, but it's generally quite different.  
18 There are greater barriers to entry, especially research  
19 and development. Competition has been slow to develop  
20 in the U.S. market. Biosimilars in the EU have been  
21 around longer, and the market has developed and resulted  
22 in savings and increased access.

1           There are many barriers to entry that make  
2 biosimilar entry more difficult than generics.  
3 Biosimilars are much more costly to develop and the  
4 process takes much longer than generics. Biosimilar  
5 development is expected to cost between \$100 million and  
6 \$200 million and take between 8 to 10 years. Once a  
7 biosimilar is approved, uncertainty over patent rights  
8 have caused delay and entry in the U.S.

9           The current patent system needs to be changed.  
10 It is too complex, which leads to uncertainty. Between  
11 2011 and 2014, over 90 percent of initial generic  
12 entrants faced patent disputes. Biosimilars seemed to  
13 follow the same pattern. There has already been a  
14 Supreme Court decision concerning patent [indiscernible]  
15 and the 180-day entry requirement. There is also an  
16 antitrust case between Pfizer and Johnson and Johnson  
17 concerning biosimilars.

18           Generic drugs have saved over a trillion  
19 dollars in healthcare costs over a 10 year period.  
20 Price of biosimilars are not expected to decrease as  
21 much as generics. However, the savings to consumers and  
22 society could be much greater in the case of biosimilars

1 because of the higher price of biologics.

2           It's important to note that the primary public  
3 policy objective is to increase consumer welfare. The  
4 market share of biosimilars is not a fully informative  
5 metric. The relevant welfare benchmark is not the price  
6 of biosimilars relative to the reference product, but  
7 the comparison price before the competition occurs. The  
8 increase in the quantity due to lower prices will  
9 increase access.

10           There's an ironic relationship between  
11 biosimilars, generics, and originator companies. There  
12 would be nothing to copy without the originators. There  
13 is the possibility for even greater innovation as  
14 innovators and firms want to develop new drugs to  
15 replace the biologics that are now subject to  
16 competition. The biosimilar market decreases the price  
17 of older drugs, and this frees money in the budget to  
18 allow for higher price, newer drugs. Consumers benefit  
19 from both.

20           In conclusion, there's a tradeoff between  
21 competition with lower prices and innovation. Public  
22 policy must balance the dual objectives of fostering

1 innovation and increasing competition. Biosimilars can  
2 decrease prices. Competition will lead to lower prices  
3 and increase access if the biosimilar experiment  
4 succeeds. Biosimilars are the great experiment. If  
5 biosimilar competition does not work, the result could  
6 be price controls. This could decrease the incentive to  
7 innovate and lead to fewer drugs being developed, which  
8 will hurt consumers. Thank you for your time and  
9 attention.

10 DR. RINI: Thank you. The open public hearing  
11 portion of this meeting is now concluded, and we will no  
12 longer take comments from the audience. The committee  
13 will turn its attention to the task at hand, that is  
14 careful consideration of the data before the committee  
15 as well as public comments.

16 Before we get to the discussion around the  
17 questions and the vote, of note I've been overruled  
18 about not giving you a second break.

19 (Laughter.)

20 DR. RINI: It's a little after 11. We'll take  
21 a break until 11:15 and then come back to finish up.  
22 Thank you.

1 (Whereupon, at 11:02 a.m., a recess was taken.)

2 DR. RINI: Before we proceed to the questions  
3 and the discussion, the applicant has some responses I  
4 think to a couple of things that came up prior to the  
5 break.

6 DR. KUDRIN: Regarding analytical data,  
7 Dr. Pollitt has an answer.

8 DR. POLLITT: To clarify for Dr. Long that the  
9 ADCP is read after 3 hours, and it's the macrophage  
10 differentiation that we allowed to go for several days.

11 DR. KUDRIN: And Dr. Nowakowski requested some  
12 information about user of filgrastim. I can confirm we  
13 checked that in study 3.4, low-tumor burden follicular  
14 lymphoma, there were no patients using filgrastim at  
15 all. And in study 3.3, we had 24 patients, which is  
16 34.3 percent in CT-P10 group versus 16 patients,  
17 22.9 percent, in the Rituxan. This imbalance was  
18 exactly in line with the imbalance of neutropenia  
19 observed in study 3.3.

20 **Questions to the Committee and Discussion**

21 DR. RINI: Thank you. We'll now proceed with  
22 questions to the committee and panel discussions. I'd

1       like to remind public observers that while this meeting  
2       is open for public observation, public attendees may not  
3       participate except at the specific request of the panel.

4               I'm going to read the questions out. If you  
5       have any question about the questions, about the  
6       wording, please ask me and I'll clarify. The first  
7       question, please discuss whether the evidence supports  
8       the demonstration that CT-P10 is highly similar to U.S.  
9       licensed Rituxan notwithstanding minor differences in  
10       clinically inactive components.

11               The floor is open to discuss this question.

12       Dr. Hancock?

13               DR. HANCOCK: While there are some minor  
14       differences as stated here, and I think no one  
15       analytical test will answer all the questions about a  
16       particular difference, there has been a large battery of  
17       analytical tests run, which are orthogonal. So one test  
18       may not give the whole result, but then the other tests  
19       will fill in the missing gaps.

20               So with that background, I am comfortable about  
21       the analytical characterization and the demonstration of  
22       a high degree of similarity.

1 DR. RINI: Thank you. Anybody else want to  
2 comment from clinical pharmacology about minor  
3 differences? At the end I can't see.

4 DR. WALDMAN: In close agreement with what  
5 Dr. Hancock said, the analysis with multiple orthogonal  
6 approaches demonstrated that the physicochemical  
7 characteristics of this agent are highly similar  
8 notwithstanding some minor differences in some of those  
9 characteristics. That similarity translated to its  
10 biological activity in vitro assays, as well as its  
11 pharmacokinetic characteristics. And that translated  
12 into noninferiority in the 3.3 study and equivalence in  
13 the 3.4 study.

14 So the totality of the evidence, taking  
15 everything into consideration, would support the  
16 suggestion that this agent is biosimilar compared to the  
17 innovator product.

18 DR. RINI: Dr. Collins?

19 DR. COLLINS: Jerry Collins. I thought the  
20 discussion about the analytical piece was really  
21 excellent. I liked the drilling down to details. And  
22 in addition to its role in biosimilars, we're still

1 learning a lot about this whole field. So when a  
2 product is first developed, like it is in our program,  
3 at an early stage, the whole process is going to be  
4 transferred to somebody else to actually market it.

5 There has to be some -- it's a lower standard  
6 of bioequivalence, but we still need to know -- we still  
7 have to be similar to it as the product moves. Then  
8 further, after a company has been successful, they build  
9 a new plant somewhere thousands of miles away. And the  
10 product that's produced there, it's not automatic that  
11 everything will just work fine.

12 So getting this on the record, hearing about  
13 the issues and hearing them discuss, that was, for me,  
14 one of the highlights of the meeting.

15 DR. RINI: Thank you. Other comments about  
16 this particular question? Dr. Smith?

17 DR. SMITH: Well, I was pleased to hear that  
18 there was some explanation about why some feature of the  
19 product was not clinically relevant. Really, that  
20 wasn't made clear in the materials until the briefings  
21 today, where it was actually explained that certain  
22 parts of the molecule have to do with binding, and

1 binding is a critical feature.

2           It would be a little bit better -- I know that  
3 you cannot define highly similar, but obviously those  
4 words were chosen because it was felt that -- it's an  
5 undefinable concept. But what makes something appear to  
6 be highly similar ought to be more than here's a bunch  
7 of numbers, and we think it's highly similar. There  
8 should be a better explanation. And today, there was  
9 presented a better explanation in terms of the molecular  
10 biology, but that really didn't appear in the briefing  
11 materials.

12           DR. RINI: Thank you. Other comments?

13           (No response.)

14           DR. RINI: I think to summarize what we've  
15 heard from our experts is that there's, I think,  
16 relative consensus that this product is highly similar  
17 across the multiplicity of assays to the best of the  
18 ability to define that.

19           If we could turn to question 2, please discuss  
20 whether the evidence supports a demonstration that there  
21 are no clinically meaningful differences between CT-P10  
22 and U.S. licensed Rituxan. The floor is open to discuss

1 this question regarding the clinically meaningful  
2 differences or lack thereof. Dr. Chen?

3 DR. CHEN: I thought the two clinical trials  
4 shown, the 3.3 and 3.4, particularly the 3.4 equivalence  
5 study did show that there were no, -- that they're  
6 clinically equivalent in efficacy and toxicity. The  
7 slight signal difference in the 3.3 study I think was  
8 adequately explained by the neutropenia and the bone  
9 marrow involvement.

10 DR. RINI: Thank you. Dr. Halabi?

11 DR. HALABI: I was also pleased with the  
12 sponsor presentation, and I put more weight on CT-P10  
13 3.4, since this was designed originally as an  
14 equivalence drug. So it was properly designed and  
15 properly conducted. I thought the rationale for  
16 choosing the noninferiority or the equivalence margins  
17 were well justified, and the analysis based on ITT and  
18 per protocol seemed adequate. Thank you.

19 DR. RINI: Thank you. Dr. Nowakowski?

20 DR. NOWAKOWSKI: I also agree with the  
21 statement. I think those two studies are complimentary  
22 with frequently used Rituximab and in combination with

1 chemotherapy, which was the 3.3 study. But to isolate  
2 the single agent, the 3.4 study was quite convincing in  
3 terms of demonstrating that there are no meaningful  
4 clinical differences between those two.

5 DR. RINI: Thank you. Dr. Hawkins?

6 DR. HAWKINS: I didn't think to ask earlier,  
7 but just curious, after a year use of the product in  
8 Europe, where there are more adverse events that we  
9 didn't see here we wonder about, but were there -- are  
10 there more adverse events with a year of the use of this  
11 product in Europe.

12 DR. RINI: You're asking about the adverse  
13 event profile of the European product.

14 DR. HAWKINS: Yes.

15 DR. RINI: I don't know.

16 DR. KUDRIN: Thank you very much. We brought  
17 here an expert from Germany who is using the CT-P10 now  
18 in hundreds of patients in Germany, specifically in  
19 follicular lymphoma. Dr. Christian Buske.

20 DR. BUSKE: Yes. Thank you very much. My name  
21 is Christian Buske. I'm from Ulm University Hospital.  
22 In my function as a medical director at the university

1 hospital but also as a member of the steering committee  
2 and upcoming president of the German Lymphoma Alliance,  
3 which is one of the largest clinical study groups for  
4 lymphoma, we oversee quite a lot of patients treated  
5 with biosimilars because this constantly changes our  
6 experience quite a lot. From what we know is that there  
7 is no difference with the biosimilar CT-P10 in the  
8 labeled indications, so that we feel really confident to  
9 use this biosimilar.

10 I'm also the coordinator of the working party  
11 of the ESMO guidelines for hematological malignancies,  
12 and I'm also responsible for the official guidelines for  
13 indolent lymphoma in Germany, Switzerland, and Austria.  
14 And we are very sensitive to this issue, and we think  
15 twice and three times what we are writing, and there are  
16 clearcut position papers from all societies which  
17 implement these experiences which we have.

18 So saying that there is indeed biosimilarity  
19 and that we do not see any toxicity signal.

20 DR. RINI: Thank you. Other comments from the  
21 committee? Other discussion around this particular  
22 question?

1 (No response.)

2 DR. RINI: I think just to summarize what we've  
3 heard is I think the committee is comfortable that there  
4 are not clinically meaningful differences given what  
5 we've heard about efficacy and toxicity, as well as the  
6 statistical design, as mentioned.

7 If we could turn to question 3, please discuss  
8 whether there is adequate justification to support  
9 licensure for the proposed indication sought by the  
10 applicant. So the floor is open for discussion around  
11 this question, around the proposed indication,  
12 specifically.

13 Dr. Nowakowski?:

14 DR. NOWAKOWSKI: I think as a lymphoma  
15 physician, all three proposed indications are consistent  
16 with our use in clinical practice, although there are  
17 some new CD20 antibodies entering this space as well,  
18 but rituximab is still used for all those three  
19 indications. So based on the totality of evidence,  
20 which we have seen here, I think the use in follicular  
21 lymphoma, which is mentioned here in low-grade  
22 C20-positive non-Hodgkin's lymphoma, is supported by

1 this.

2 DR. RINI: Okay. Thank you. Other comments  
3 around the indications?

4 (No response.)

5 DR. RINI: If there's no further discussion on  
6 these questions, we'll now begin the voting process. We  
7 will be using an electronic voting system for this  
8 meeting. Once we begin to vote, the buttons will start  
9 flashing and will continue to flash even after you have  
10 entered your vote. Please press the button firmly that  
11 corresponds to your vote. If you are unsure of your  
12 vote or you wish to change your vote, you may press the  
13 corresponding button until the vote is closed.

14 After everyone has completed their vote, the  
15 vote will be locked in, and the vote will then be  
16 displayed on the screen. A designated federal officer  
17 will read the vote from the screen into the record, and  
18 next, we will go around the room, and each individual  
19 who voted will state their name and what they voted into  
20 the record. And please also state the reason why you  
21 voted as you did in any discussion around your vote if  
22 you wish to.

1           The vote is, does the totality of the evidence  
2 support licensure of CT-P10 as a biosimilar product to  
3 U.S. licensed Rituxan for the following indications:  
4 treatment of adult patients with relapsed refractory  
5 low-grade or follicular CD20-positive B-cell  
6 non-Hodgkin's lymphoma as a single agent; previously  
7 untreated follicular CD20 positive B-cell NHL in  
8 combination with first-line chemotherapy and in patients  
9 achieving a complete or partial response to a rituximab  
10 product in combination with chemotherapy; a single agent  
11 maintenance therapy and non-progressing, including  
12 stable disease; and low grade CD20 positive B-cell NHL  
13 as a single agent after first-line CVP chemotherapy.

14           Please press the button on your microphone that  
15 corresponds to your vote. You'll have approximately 20  
16 seconds to vote. Press the button firmly. After you  
17 have made your selection, the light may continue to  
18 flash. If you are unsure of your vote or wish to  
19 change, press the corresponding button again before the  
20 vote is closed. Please vote now.

21           (Voting.)

22           DR. TESH: For the record, the voting results

1 are 16 yeses; zero, no; zero abstentions; and zero no  
2 voting.

3 DR. RINI: Thank you. Now that the vote is  
4 complete, we're go around the table and have everyone  
5 who voted state their name, their vote, and if you'd  
6 like, and please do, state the reason why you voted as  
7 you did into the record.

8 We can start with P.K. even though she didn't  
9 vote. Any comments?

10 (Dr. Morrow gestures no.)

11 DR. RINI: Okay. So we'll go to Dr. Waldman  
12 then.

13 DR. WALDMAN: Scott Waldman. I voted yes. I  
14 thought the totality of the analytical, clinical,  
15 pharmacological, and clinical evidence supported  
16 biosimilarity.

17 DR. HANCOCK: William Hancock. I voted yes,  
18 and I think Scott just nicely summarized my reasons for  
19 voting yes.

20 DR. LONG: I'm Eric Long. I voted yes. The  
21 evidence was quite strong that the product is  
22 equivalent.

1 DR. CHEN: Andy Chen. I also voted yes.

2 DR. KARARA: Adel Karara. I voted yes because  
3 of the compelling PK similarity data that has been  
4 provided.

5 MS. MATSON: Tracy Matson. I also voted yes.

6 DR. HAWKINS: Randy Hawkins, yes. And I hope  
7 that the healthcare system and consumers will be a  
8 beneficiary of the price reduction, promised

9 DR. HINRICHS: Christian Hinrichs. I voted  
10 yes. I believe the analytical data, the PK data, the  
11 immunogenicity data, and the clinical data were all  
12 consistent with equivalency.

13 DR. NOWAKOWSKI: Grzegorz Nowakowski. I voted  
14 yes. I believe the totality of evidence strongly  
15 supported the biosimilarity of those products. Also,  
16 I'm a lymphoma physician. I do believe that this  
17 approval could improve patient access to anti-C20  
18 therapy, which remains a cornerstone of our treatment in  
19 B-cell lymphomas.

20 We also had a lot of exchanges with our  
21 European colleagues and colleagues from other countries,  
22 which actually commented on improved access to anti-C20

1 therapy after biosimilar products were approved there.

2 DR. ULDRICK: Tom Uldrick. I also voted yes.

3 The totality of the evidence supports licensure.

4 Anti-CD20 therapies really transform B-cell lymphoma

5 therapy, and the sponsors convincingly demonstrated that

6 CT-P10 is highly similar to U.S. Rituxan, with the

7 exception of minor components with no biologic activity.

8 The clinical PK efficacy and safety data is consistent

9 with equivalence.

10 DR. RINI: Brian Rini. I voted yes for the

11 same reasons as outlined. I thought the preclinical

12 analytics were fairly consistent. I think the clinical

13 data supports efficacy in terms of at least response

14 rate. There were some concerns about safety, and I

15 think that's probably just what you get when you have a

16 lower number of patients treated in this type of

17 development program, that you get some imbalances in

18 baseline characteristics that, to me, seem to explain

19 some of the differences observed.

20 DR. KLEPIN: Heidi Klepin. I voted yes, based

21 on the totality of the data. And I would just echo what

22 Dr. Rini just said.

1 DR. CRISTOFANILLI: Massimo Cristofanilli. I  
2 voted yes; obviously the totality of data. I didn't see  
3 any major signals of safety, and I think, I hope, that  
4 in the future that can be applied to other applications  
5 where rituximab is used.

6 DR. HALABI: Susan Halabi. I voted yes based  
7 on the totality of the data from analytical studies to  
8 nonclinical/clinical pharmacology, and clinical efficacy  
9 and safety. And I congratulate the sponsor for doing a  
10 rigorous job from the pyramid down, all the way to the  
11 top.

12 DR. SMITH: Paul Smith. I voted yes. I felt  
13 that the discrepancies that were located or identified  
14 at the early stages of the analysis were completely  
15 overwhelmed by the good clinical results.

16 DR. COLLINS: Jerry Collins. I also voted yes  
17 based on totality, though I'd prefer that all these  
18 things only had one clinical trial. In this case, it  
19 really sealed the deal and will given higher confidence  
20 in this product.

21 **Adjournment**

22 DR. RINI: Thank you. We'll now adjourn the

1 meeting. Panel members, please leave your name badge on  
2 the table so they can be recycled. Please take all your  
3 personal belongings with you, and any meeting materials  
4 that you need to leave on the table will be disposed of.  
5 Thank you for your time.

6 (Whereupon, at 11:33 a.m., the meeting was  
7 adjourned.)  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22