

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ANESTHETIC AND ANALGESIC DRUG PRODUCTS
ADVISORY COMMITTEE (AADPAC)

Thursday, November 15, 2018

7:59 a.m. to 4:09 p.m.

FDA White Oak Campus
Building 31, the Great Room
10903 New Hampshire Avenue
Silver Spring, Maryland

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Moon Hee V. Choi, PharmD**

4 Division of Advisory Committee and

5 Consultant Management

6 Office of Executive Programs, CDER, FDA

7

8 **ANESTHETIC AND ANALGESIC DRUG PRODUCTS ADVISORY**

9 **COMMITTEE MEMBERS (Voting)**

10 **Brian T. Bateman, MD, MSc**

11 *(Acting Chairperson)*

12 Associate Professor, Harvard Medical School

13 Chief, Division of Obstetric Anesthesia

14 Department of Anesthesiology

15 Division of Pharmacoepidemiology and

16 Pharmacoeconomics

17 Department of Medicine

18 Brigham and Women's Hospital

19 Boston, Massachusetts

20

21

22

1 **Basavana G. Goudra, MD, FRCA, FCARSCI**

2 Clinical Associate Professor of Anesthesiology and
3 Critical Care Medicine

4 Director of Endoscopy Anesthesia Services at the
5 Penn Presbyterian Medical Center

6 Perelman School of Medicine

7 Hospital of the University of Pennsylvania

8 Philadelphia, Pennsylvania

9

10 **Ronald S. Litman, DO, ML**

11 Professor of Anesthesiology & Pediatrics

12 Perelman School of Medicine

13 University of Pennsylvania

14 Attending Anesthesiologist

15 The Children's Hospital of Philadelphia

16 Medical Director, Institute for Safe Medication

17 Practices

18 Philadelphia, Pennsylvania

19

20

21

22

1 **Mary Ellen McCann, MD, MPH**

2 Associate Professor of Anesthesia

3 Harvard Medical School

4 Senior Associate in Anesthesia

5 Boston Children's Hospital

6 Boston, Massachusetts

7

8 **Abigail B. Shoben, PhD**

9 Associate Professor, Division of Biostatistics

10 College of Public Health

11 The Ohio State University

12 Columbus, Ohio

13

14 **ANESTHETIC AND ANALGESIC DRUG PRODUCTS ADVISORY**

15 **COMMITTEE MEMBER (Non-Voting)**

16 **W. Joseph Herring, MD, PhD**

17 *(Industry Representative)*

18 Associate Vice President

19 Clinical Neuroscience

20 Merck Research Laboratories

21 North Wales, Pennsylvania

22

1 **TEMPORARY MEMBERS (Voting)**

2 **Daniel Budnitz, MD, MPH**

3 CAPT, US Public Health Service

4 Director, Medication Safety Program

5 Division of Healthcare Quality Promotion

6 Centers for Disease Control and Prevention

7 Atlanta, Georgia

8

9 **James S. Floyd, MD, MS**

10 Assistant Professor of Medicine and Epidemiology

11 Cardiovascular Health Research Unit

12 University of Washington

13 Seattle, Washington

14

15 **Jennifer Higgins, PhD**

16 *(Acting Consumer Representative)*

17 Director of Research & Policy

18 Association of Developmental Disabilities Providers

19 Framingham, Massachusetts

20

21

22

1 **Karl Lorenz, MD, MSHS**

2 Section Chief, VA Palo Alto - Stanford

3 Palliative Care Programs

4 Professor of Medicine

5 Stanford School of Medicine

6 Director, VA Palliative Care Quality

7 Improvement Resource Center

8 Palo Alto, California

9

10 **Edward Michna, MD, JD, RPh**

11 Assistant Professor Harvard Medical School

12 Director Pain Trials Center

13 Brigham & Women's Hospital

14 Boston, Massachusetts

15

16 **Joseph O'Brien, MBA**

17 *(Patient Representative)*

18 President & Chief Executive Officer

19 National Scoliosis Foundation

20 Stoughton, Massachusetts

21

22

1 **Jack M. Rosenberg MD**

2 Clinical Assistant Professor

3 Depts of Physical Medicine and Rehabilitation and
4 Anesthesiology

5 University of Michigan, Ann Arbor, Michigan

6 Co-Chair, VA-DoD Opioid Therapy Guideline Group,

7 Department of Veterans Affairs (VA)

8 Washington, District of Columbia

9
10 **Maria E. Suarez-Almazor, MD, PhD**

11 Professor of Medicine

12 Department of General Internal Medicine

13 University of Texas MD Anderson Cancer Center

14 Houston, Texas

15
16 **FDA PARTICIPANTS (Non-Voting)**

17 **Patrizia Cavazzoni, MD**

18 Deputy Director for Operations

19 Office of the Center Director

20 CDER, FDA

21

22

1 **Peter P. Stein, MD**

2 Deputy Director

3 Office of New Drugs (OND)

4 CDER, FDA

5

6 **Sharon Hertz, MD**

7 Director

8 Division of Anesthesia, Analgesia and Addiction

9 Products (DAAAP)

10 Office of Drug Evaluation II (ODE-II)

11 OND, CDER, FDA

12

13 **Pamela Horn, MD**

14 Clinical Team Leader

15 DAAAP, ODE-II, OND, CDER, FDA

16

17 **Judy Staffa, PhD, RPh**

18 Associate Director for Public Health Initiatives

19 Office of Surveillance and Epidemiology

20 CDER, FDA

21

22

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Brian Bateman, MD, MSc	12
5	Conflict of Interest Statement	
6	Moon Hee Choi, PharmD	16
7	FDA Introductory Remarks	
8	Sharon Hertz, MD	21
9	Industry Presentations - Biotechnology	
10	Innovation Organization	
11	Methodologies for Determining Opioid	
12	Sparing in Acute Pain Models	
13	Richard Scranton, MD, MPH	26
14	Outcome Measures: A Composite Approach for	
15	Opioid Sparing Treatments in Chronic Pain	
16	Randall Stevens, MD	41
17	Opioid Sparing Considerations in Chronic	
18	Pain Trials: Osteoarthritis as a Model	
19	Indication	
20	Scott Kelley, MD	50
21	Clarifying Questions	67
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	Guest Speaker Presentation	
4	The Role of Acute Care Prescribing in the	
5	Opioid Epidemic	
6	Chad Brummett, MD	91
7	Clarifying Questions	110
8	Guest Speaker Presentation	
9	How Risky is Opioid Pain Management During	
10	Adolescence? Persistent Use, Misuse, and	
11	Abuse	
12	Terri Voepel-Lewis, PhD, RN	132
13	Clarifying Questions	157
14	FDA Presentations	
15	Background and Rationale for the	
16	Development of Opioid-Sparing and	
17	Opioid-Replacement Drugs	
18	Mallika Mundkur, MD, MPH	175
19	Study Designs and Approved Product	
20		
21		
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	Labeling Relevant to Opioid Sparing	
4	Pamela Horn, MD	190
5	Clarifying Questions	210
6	Open Public Hearing	227
7	Charge to the Committee	
8	Sharon Hertz, MD	251
9	Questions to the Committee and Discussion	252
10	Adjournment	351

11

12

13

14

15

16

17

18

19

20

21

22

P R O C E E D I N G S

(7:59 a.m.)

Call to Order

Introduction of Committee

DR. BATEMAN: Good morning. I'd first like to remind everyone to please silence your cell phones, smartphones, or any other devices if you've not already done so. I would also like to identify the FDA press contact, Michael Felberbaum. If you are present, please stand.

My name is Brian Bateman. I'm the acting chairperson for this meeting. I will now call the Anesthetic and Analgesic Drug Products Advisory Committee meeting to order. We'll start by going around the table and introducing ourselves. We'll start with the FDA to my left and go around the table.

DR. CAVAZZONI: Patrizia Cavazzoni, deputy center director for operations.

DR. STEIN: Peter Stein, deputy director, Office of New Drugs, CDER.

DR. HERTZ: Sharon Hertz, director of the

1 Division of Anesthesia, Analgesia, and Addiction
2 Products in the Office of New Drugs.

3 DR. HORN: Pamela Horn, clinical team
4 leader, same division.

5 DR. STAFFA: Good morning. I'm Judy Staffa.
6 I'm the associate director for public health
7 initiatives in the Office of Surveillance and
8 Epidemiology.

9 DR. MICHNA: Ed Michna, pain physician,
10 Brigham and Women's Hospital in Boston.

11 DR. LITMAN: Good morning, Ron Litman. I'm
12 an anesthesiologist at the Children's Hospital of
13 Philadelphia and the medical director of the
14 Institute for Safe Medication Practice.

15 DR. GOUDRA: Basavana Goudra,
16 anesthesiologist in pain medicine.

17 DR. CHOI: Moon Hee Choi, designated federal
18 officer.

19 DR. BATEMAN: Brian Bateman. I'm an
20 anesthesiologist at Brigham and Women's Hospital
21 and an associate professor at Harvard Medical
22 School.

1 CAPT BUDNITZ: Dan Budnitz, a medical
2 officer and lead the medication safety program at
3 the Division of Healthcare Quality Promotion, CDC.

4 DR. McCANN: Mary Ellen McCann. I'm a
5 pediatric anesthesiologist at Boston Children's
6 Hospital and associate professor at Harvard Medical
7 School.

8 DR. SHOBNEN: I'm Abby Shoben. I'm an
9 associate professor of biostatistics at Ohio State.

10 DR. ROSENBERG: Jack Rosenberg at the
11 University of Michigan and Department of Veterans
12 Affairs. I'm an anesthesiologist, pain specialist,
13 and addictionist.

14 DR. LORENZ: I'm Karl Lorenz. I'm with the
15 Palo Alto VA at Stanford University. I'm a
16 professor of medicine and palliative care
17 physician, as well as a health services researcher.

18 DR. SUAREZ-ALMAZOR: Good morning. Maria
19 Suarez-Almazor. I am a professor in general
20 internal medicine, University of Texas, MD Anderson
21 Cancer Center.

22 DR. FLOYD: James Floyd, general internist

1 and epidemiologist at the University of Washington.

2 DR. HIGGINS: Jennifer Higgins, acting
3 consumer representative to AADPAC.

4 MR. O'BRIEN: Joe O'Brien, president and CEO
5 of the National Scoliosis Foundation, patient
6 representative, also a scoliosis patient recovering
7 from my sixth final surgery.

8 DR. HERRING: Good morning. I'm Joe
9 Herring, a neurologist, associate vice-president of
10 clinical nerve science at Merck and the industry
11 representative to the AADPAC.

12 DR. BATEMAN: For topics such as those being
13 discussed at today's meeting, there are often a
14 variety of opinions, some of which are quite
15 strongly held. Our goal is that today's meeting
16 will be a fair and open forum for discussion of
17 these issues and that individuals can express their
18 views without interruption.

19 Thus, as a gentle reminder, individuals will
20 be allowed to speak into the record only if
21 recognized by the chairperson. We look forward to
22 a productive meeting.

1 In the spirit of the Federal Advisory
2 Committee Act and the Government in the Sunshine
3 Act, we ask that the advisory committee members
4 take care that their conversations about the topic
5 at hand take place in the open forum of the
6 meeting.

7 We are aware that members of the media are
8 anxious to speak with the FDA about these
9 proceedings. However, the FDA will refrain from
10 discussing the details of this meeting with the
11 media until after its conclusion.

12 Also, the committee is reminded to please
13 refrain from discussing the meeting topic during
14 breaks or lunch. Thank you.

15 Now, I'll pass it to Moon Hee Choi, who will
16 read the conflict of interest statement.

17 **Conflict of Interest Statement**

18 DR. CHOI: The Food and Drug Administration
19 is convening today's meeting of the Anesthetic and
20 Analgesic Drug Products Advisory Committee under
21 the authority of the Federal Advisory Committee Act
22 of 1972. With the exception of the industry

1 representative, all members and temporary voting
2 members of the committee are special government
3 employees or regular federal employees from other
4 agencies and are subject to federal conflict of
5 interest laws and regulations.

6 The following information on the status of
7 this committee's compliance with federal ethics and
8 conflict of interest laws, covered by, but not
9 limited to those found at 18 U.S.C. Section 208, is
10 being provided to participants in today's meeting
11 and to the public.

12 FDA has determined that members and
13 temporary voting members of this committee are in
14 compliance with federal ethics and conflict of
15 interest laws.

16 Under 18 U.S.C. Section 208, Congress has
17 authorized FDA to grant waivers to special
18 government employees and regular federal employees
19 who have potential financial conflicts when it is
20 determined that the agency's need for a special
21 government employee's services outweighs his or her
22 potential financial conflict of interest or when

1 the interest of a regular federal employee is not
2 so substantial as to be deemed likely to affect the
3 integrity of the services which the government may
4 expect from the employee.

5 Related to the discussions of today's
6 meeting, members and temporary voting members of
7 this committee have been screened for potential
8 financial conflicts of interest of their own as
9 well as those imputed to them, including those of
10 their spouses or minor children and, for purposes
11 of 18 U.S.C. Section 208, their employers. These
12 interests may include investments; consulting;
13 expert witness testimony; contracts/grants/CRADAs;
14 teaching/speaking/writing; patents and royalties;
15 and primary employment.

16 Today's agenda involves the assessment of
17 opioid analgesic sparing outcomes in clinical
18 trials of acute pain. The committee will be asked
19 to comment on the trial design and endpoints of
20 these studies and how to determine the clinical
21 relevance of the results.

22 This is a particular matters meeting during

1 which general issues will be discussed. Based on
2 the agenda for today's meeting and all financial
3 interests reported by the committee members and
4 temporary voting members, no conflict of interest
5 waivers have been issued in connection with this
6 meeting.

7 To ensure transparency, we encourage all
8 standing committee members and temporary voting
9 members to disclose any public statements that they
10 have made concerning the topic at issue.

11 With respect to FDA's invited industry
12 representative, we would like to disclose that
13 Dr. William Herring is participating in this
14 meeting as a non-voting industry representative,
15 acting on behalf of regulated industry. His role at
16 this meeting is to represent industry in general
17 and not any particular company. Dr. Herring is
18 employed by Merck & Company.

19 With regard to FDA's guest speakers, the
20 agency has determined that the information to be
21 provided by these speakers is essential. The
22 following interests are being made public to allow

1 the audience to objectively evaluate any
2 presentation and/or comments made by the speaker.

3 Dr. Chad Brummett has acknowledged he has
4 served as a consultant to Heron Therapeutics and
5 Recro Pharma. In addition, he has served as
6 principal investigator, co-principal investigator,
7 and co-investigator of several federal funded,
8 state-funded, and institutionally-funded studies
9 relating to opioids for pain management, opioid
10 dependence, chronic pain self-management, and
11 genomics initiative.

12 Additionally, Dr. Brummett has had
13 involvement with a patent related to peripheral
14 perineural dexmedetomidine and he receives no
15 royalties.

16 As guest speakers, Dr. Chad Brummett and Dr.
17 Terri Voepel-Lewis will not participate in
18 committee deliberations, nor will they vote.

19 We would like to remind members and
20 temporary voting members that if the discussions
21 involve any other topics not already on the agenda
22 for which an FDA participant has a personal or

1 imputed financial interest, the participants need
2 to exclude themselves from such involvement, and
3 their exclusion will be noted for the record.

4 FDA encourages all other participants to
5 advise the committee of any financial relationships
6 that they may have regarding the topic that could
7 be affected by the committee's discussions. Thank
8 you.

9 DR. BATEMAN: So we will now proceed with
10 the FDA's introductory remarks from Dr. Sharon
11 Hertz.

12 **FDA Introductory Remarks - Sharon Hertz**

13 DR. HERTZ: Good morning, Dr. Bateman,
14 members of the Anesthetic and Analgesic Drug
15 Products Advisory Committee, invited guests. Thank
16 you for coming to this meeting of the AADPAC.
17 You're hearty souls to get here today, and we
18 appreciate that effort.

19 Today, we will be discussing the assessment
20 of opioid analgesic sparing outcomes in clinical
21 trials of acute pain. Opioid analgesic sparing is
22 a topic that has become an area of interest in drug

1 development as one more way to address the opioid
2 crisis as well as improving patient safety and
3 comfort in the setting of acute pain management.

4 Today, we hope to get some clarity on what
5 opioid sparing actually means and how developing
6 this area can be useful to patients and to the
7 public health.

8 There are two concepts often included under
9 the heading of opioid sparing. One is the idea of
10 replacing opioids with alternative non-opioid
11 analgesics. One way to reduce the risk of
12 opioid-use disorder from opioid analgesics is to
13 reserve the use of opioids to only those situations
14 where alternative analgesics are not likely to
15 adequately manage the patient's pain.

16 It's reasonable to consider that reducing
17 the number of people exposed to opioids reduces the
18 risk for developing opioid-use disorder in
19 vulnerable patients. Not prescribing opioids when
20 alternatives may be sufficient also can help reduce
21 the amount of opioid analgesics in the home and in
22 the community, which as we know is a source for

1 diversion and abuse.

2 Opioid sparing in this context can be
3 demonstrated in a clinical trial by a reduction in
4 the number of patients who require the use of
5 opioids. While there are obvious advantages to
6 avoiding the use of an opioid analgesic, as an
7 inpatient, as discussed during a prior advisory
8 committee meeting, which some of you attended,
9 avoiding the use of an opioid analgesic while
10 hospitalized, but then being prescribed an opioid
11 for outpatient use, does not have the same
12 opportunity for benefit from a public health
13 perspective as not being prescribed an opioid
14 analgesic in both inpatient and outpatient
15 settings.

16 Another concept is reduction in the amount
17 of opioid used such that patients experienced fewer
18 opioid-related adverse events. Less sedation, a
19 shorter period of ileus, less nausea and vomiting,
20 and better participation in physical therapy or
21 other activities can contribute to earlier
22 discharge from the hospital for post-operative

1 patients. Less opioid analgesic use can be
2 particularly helpful for patients who are more
3 susceptible to respiratory depression.

4 However, we have to be careful that the
5 evaluation labeling of opioid-sparing properties
6 for novel analgesic products reflect clinically
7 meaningful outcomes. We have preliminary data that
8 are being followed by a study FDA is conducting
9 indicating that some prescribers have
10 misinterpreted some of the terms we use, in
11 particular abuse-deterrent formulations, thinking
12 that these mean the products are safer or non-
13 abusable. And we want to avoid developing a
14 situation where the concept of opioid sparing is
15 misunderstood. So today, we're hoping to have you
16 help us define how it can be used and how it can be
17 meaningful.

18 Thank you for taking time from your busy
19 schedules. We appreciate you being here very much
20 and look forward to the discussion.

21 DR. BATEMAN: Both the Food and Drug
22 Administration and the public believe in a

1 transparent process for information gathering and
2 decision making. To ensure such transparency at
3 the advisory committee meeting, FDA believes it is
4 important to understand the context of an
5 individual's presentation.

6 For this reason, FDA encourages all
7 participants, including BIO's non-employee
8 presenters, to advise the committee of any
9 financial relationships that they may have with
10 industry, such as consulting fees, travel expenses,
11 honoraria, and interest in a sponsor, including
12 equity interests and those based upon the outcome
13 of the meeting.

14 Likewise, FDA encourages you, at the
15 beginning of your presentation, to advise the
16 committee if you do not have any such financial
17 relationships. If you choose not to address this
18 issue of financial relationships at the beginning
19 of your presentation, it will not preclude you from
20 speaking.

21 We'll now proceed with the BIO
22 presentations.

1 **Industry Presentation - Richard Scranton**

2 DR. SCRANTON: Good morning. My name is Dr.
3 Richard Scranton. My interest in research on this
4 topic began as a lieutenant commander in the
5 medical corps in the United States Navy and
6 continued that interest as I served as assistant
7 professor at Harvard in the Division of Aging.

8 Today, I will be talking about the
9 methodologies for determining opioid sparing in
10 acute pain models, where I currently serve as the
11 chief medical officer of Pacira Pharmaceuticals.
12 The views I will be discussing are expressed on my
13 own and not necessarily that of the company's.

14 So opioid sparing in acute pain management
15 is multidimensional, as Dr. Hertz spoke to, and
16 there are really two outcomes in consideration for
17 opioid sparing. One is ascertaining the short-term
18 benefits in determining the clinically meaningful
19 benefit of improving or reducing opioids, but also
20 the consideration as a long-term benefit, reducing
21 the exposure to patients chronically.

22 The short term, I believe, is attainable in

1 our phase 3 clinical studies, as I will describe,
2 where you can assess the outcome in as few as
3 100 patients, but the long-term benefit is a bit
4 more challenging and where a larger sample size
5 would require upwards of around 1,000 patients and
6 would be probably more ideal for a phase 4
7 postmarket study.

8 So when we talk about opioid-sparing claims
9 in the short term, it really does focus on the
10 reduction in opioid-related adverse events and
11 improvement in functional outcomes. The construct
12 I would argue of opioid sparing is really that
13 evaluating pain scores in opioid consumption alone
14 is not sufficient. As we know, more opioids will
15 reduce pain. They're effective in analgesics, but
16 they will come at a cost of increasing opioid-
17 related adverse events.

18 Reductions in opioids, however, without
19 other mechanisms to control their pain is also not
20 ideal. We do not want to go back to a time where
21 patients arrive in pain after surgery because that
22 could also lead to detrimental impacts on

1 improvement in functional outcomes.

2 It's also conceivable, based on the research
3 of many, that avoiding opioids in the acute phase
4 could lead to a reduction in persistent use. We
5 know, from a single day, administration of opioids
6 can change the neurochemistry of the brain and, and
7 particularly for those patients who are prone or at
8 risk, that may lead them to become persistent
9 opioid users. But we also know from others that if
10 we can reduce exposure from 1 day versus 7 days,
11 that also will reduce the risk by 2X. We know that
12 for patients who consume either high-dose opioids
13 for greater than 7 days, they have 2 times a
14 greater risk of consuming opioids chronically after
15 1 year.

16 So perhaps a meaningful reduction or opioid
17 free in the first post-operative week would be
18 meaningful as a surrogate endpoint for long-term
19 persistent use.

20 The three elements necessary to demonstrate
21 opioid sparing in the short-term would be first
22 demonstrating equivalent or some sufficient

1 evidence of equal analgesia between the
2 interventions; reductions in opioids as defined as
3 opioid free or combination of total reduction in
4 morphine equivalence; and then improvements in side
5 effects and functional measures.

6 This is important because all of these are
7 interrelated. Pain, the opioid use, and side
8 effects, and the functional measures are
9 interrelated and patient specific. But most
10 important, assessment could be based on a responder
11 analysis, where you could obtain adequate pain
12 relief, minimize opioids, and then determine a
13 clinically meaningful analgesic benefit.

14 What could we use for outcomes? We could
15 look at MedDRA-preferred terms in our phase 3
16 clinical trials, looking at adverse events such as
17 nausea, vomiting, pruritis, and somnolence, or look
18 for surrogate endpoints from respiratory depression
19 such as hypoxia or hypercapnia, or functional
20 assessments, or using some outcome measures such as
21 time to discharge or ambulation.

22 However, I would say that the adverse events

1 from trials alone probably are not sufficient. As
2 we do know, they're not consistently measured
3 across the sites. It's oftentimes the physician
4 making the determination of the severity of the
5 adverse event, and that may not necessarily reflect
6 a patient's perspective on how bothersome that
7 adverse event was. And oftentimes, these cannot be
8 adjudicated. The solution would be perhaps to
9 consider validated patient-reported outcome
10 measures.

11 Here, I list several patient-reported
12 outcome measures that have been validated in an
13 acute pain setting, and they do span different
14 domains. The Overall Benefit of Analgesia, a
15 simple measurement tool, looks at pain and
16 satisfaction in opioid-related adverse events. The
17 Quality of Recovery 15 and the Brief Pain Inventory
18 look across the four different domains. And the
19 Pain Treatment Satisfaction Scale, interestingly,
20 not only covered all these domains, but also was
21 trying to get at a medication preference from the
22 patient, although I would say that was the least

1 correlated.

2 Then if we want to just look at a specific
3 tool of a patient's reported outcome measures with
4 regards to the distress from opioids, that could be
5 the ODS for opioid-related adverse events.

6 Let's just take a theoretical look at one of
7 the instruments or the overall benefit of
8 analgesia. It's a 5-point Likert scale. It asks
9 the patient about their pain at the time. And then
10 the developers looked at the symptoms that patients
11 reported to be most bothersome to them, such as
12 vomiting, and itching, and sweating.

13 Then finally, it asks the patient about
14 their satisfaction on their pain treatment. And we
15 hope, from the fact that you're asking these
16 patients these questions at the same time, the
17 patient begins to take that into context in their
18 response.

19 So this simple scoring measure, a lower
20 score would be greater benefit or appreciation of
21 management for their pain.

22 Let's look at an example here. This is the

1 opioid use by day in patients who are having a
2 total shoulder or rotator cuff surgery after the
3 intervention was with a brachial plexus nerve block
4 versus a placebo.

5 In this case, the active comparator is
6 opioids. Both groups have access to opioids. In
7 blue is individuals who had placebo. So I would
8 argue that a total shoulder rotator cuff is a very
9 painful procedure in which the pain requires
10 opioids for the majority of patients to manage
11 their pain, and that's oftentimes the standard of
12 care across the country.

13 You can see in the first 0 to 24 hours, that
14 a significant percentage of patients -- we have the
15 percent of total on the left and morphine
16 equivalence on the horizontal axis, and you can
17 see the majority of patients are requiring 30, 40,
18 50 morphine equivalence to manage their pain. And
19 that does persist, but it does decrease from 24 to
20 48, to 48 to 72 hours.

21 Compare that with the intervention arm.
22 What we're seeing is an observation where the

1 percentage of patients who require little to no
2 opioids are being shifted to the left. A higher
3 proportion of patients were requiring maybe 10
4 morphine equivalence to manage their pain for the
5 first 24 hours, and that persists through
6 24-72 hours.

7 But in this trial, the outcome was
8 differences in analgesia. So in this exploratory
9 analysis I'm sharing with you today, it's to look
10 at the subset of patients who actually received the
11 intervention but now have similar pain scores. And
12 we divide this in two groups, so that now we're
13 looking at equal analgesia, but now the only
14 difference being the opioid consumption. So again,
15 all these groups did receive the intervention.

16 So here we can see the pain is VAS for the
17 first 24 hours, about 134, so equal in both groups.
18 That equates to about a pain score of about a 5 on
19 an 11-point Likert scale.

20 Now, you can see the difference is just the
21 amount of opioids consumed, a pretty marked
22 difference in opioid consumption, and that

1 correlates with an improvement or difference in the
2 OBAS. Typically, a change in 2 would be clinically
3 meaningful in the OBAS. This is a much larger
4 improvement in the OBAS, and that also suggested a
5 greater analgesic satisfaction; so just an example
6 in which you could demonstrate this is a phase 3
7 clinical study.

8 Another way to consider this is yet another
9 painful model, a total knee arthroplasty, and
10 looking at functional outcomes, which is what we
11 all hope to obtain after having a knee surgery, is
12 high functional recovery.

13 In this case, this was an intervention of a
14 long-acting local anesthetic as a periarticular
15 infiltration compared to an immediate-release
16 bupivacaine periarticular infiltration.

17 What we're observing here in the first 0 to
18 48, 0 to 72 hours, 10 percent of the patients who
19 received the intervention were opioid free compared
20 to the bupivacaine. Again, here, the active
21 comparator is opioids. And also that demonstrated
22 total opioid consumption was markedly reduced for

1 the first 0 to 48, to 0 to 72 hours.

2 Looking at two different outcomes are
3 functional measures, one using a discharge
4 readiness score. It was a standardized score using
5 a multitude of studies that links the ability of
6 patients to ambulate, whether they're having nausea
7 or vomiting. A greater percentage of patients met
8 discharge readiness criteria, 42 percent versus
9 27 percent. This also equated to greater patient
10 satisfaction with their overall analgesia care.

11 Here's the hypothetical example of an ideal
12 opioid-sparing design. We have the treatment arms
13 to evaluate opioid sparing. We have the test
14 product, whatever the new entity is. The standard
15 of care here would be the opioid comparison because
16 that is what we're trying to reduce. And also, I'm
17 suggesting we use placebo because we know that in
18 pain trials, there is a significant placebo effect.

19 The surgical model, then, would be
20 procedures that are associated with moderate to
21 severe pain, where high-dose opioids are a
22 necessary component of contemporary pain

1 management.

2 The placebo group, therefore, would need
3 opioid rescue, and depending upon the intervention,
4 the biological plausibility of how long that
5 duration of effect of the intervention will be, you
6 would expect the pain duration to match that, so in
7 this case, 24 to 72 hours.

8 The hypothetical trial outcome would be
9 this, one, that we know in all clinical trials in
10 pain that a proportion of patients will not have
11 adequate pain relief, so that will drop out in both
12 arms.

13 The second would be there will be a
14 proportion of patients that will require none or
15 minimum opioid use. You would expect that to be a
16 greater group of people to meet that in the test
17 product if it's having good analgesic. And the
18 standard of care arm would have to take more
19 opioids to obtain that same adequate pain relief.

20 Then we would take an agreed-upon favorable
21 score in a global assessment of analgesia, some of
22 the measurements we talked about, and there again,

1 we would see a greater proportion of patients being
2 able to obtain that ideal outcome compared to the
3 standard of care, which would then result in a
4 successful composite endpoint of a difference of
5 50 percent to 25 percent. And that was an
6 adequately powered study of around 100 patients per
7 arm and would allow us to obtain that outcome.

8 The conclusions on the methodologies of
9 short-term benefits of opioid sparing,
10 demonstrating short-term benefit of opioid sparing
11 is feasible in phase 3 studies. Keys to design the
12 trials is having an appropriate acute pain model
13 where opioid control is needed, accounting for
14 pain, opioid use, and global patient outcome in the
15 opioid-sparing definition.

16 The remaining challenges would be, however,
17 we couldn't expect in all trials that opioid free
18 is the goal because that is not always possible for
19 a multitude of reasons. And the definition of
20 minimal opioid use that constitutes a clinically
21 meaningful reduction; we could still argue what
22 that is, but from literature that's been published

1 already, a 10- to 20-morphine-equivalent reduction
2 does seem to correlate with meaningful changes in
3 patient-reported outcomes.

4 So now let's switch to opioid-sparing claims
5 for long-term benefits and reducing the number of
6 patients who use opioids chronically. Many
7 factors, however, influence this. Short-term
8 consequence of opioid use, we know. We know they
9 are true. They happen in a majority of our
10 patients when they take opioids, even at the
11 prescribed recommended dosages. But there are many
12 factors that influence the likelihood of chronic
13 opioid use after surgery.

14 One could be inadequate pain management.
15 Also, the excess use of opioid in the acute phase
16 may lead to hyperalgesia. It may put those
17 patients who are particularly prone for genetic or
18 physiologic reasons or other environmental factors;
19 those patients will go on to become persistent
20 opioid users.

21 But perhaps one of the biggest problems
22 here -- and this has been published by Dr. Bateman

1 and others -- is that prescribing practices vary
2 greatly among providers. And those differences in
3 opioid prescribing, even after minor surgical
4 procedures, doesn't necessarily correlate with
5 better patient outcomes, and yet, this is one of
6 the chief challenges we would have in changing
7 persistent opioid use.

8 Let's take a hypothetical example, based on
9 the literature to date, that if patients who are
10 naive to opioids undergo surgery, that 1 in 16 of
11 them, or 6 percent of those, will go on to become
12 chronic users. We could look at longer initial
13 exposure, as we said, that those individuals who do
14 take opioids initially for greater than 8 days,
15 their risk is greater. Their risk increases to
16 about 13.5 percent.

17 We could also look at high-risk vulnerable
18 patient populations like our military, or VA, or
19 those who have a history of addiction; the risk for
20 persistent use in them is much higher. But
21 nonetheless, if we go with the general arm of
22 6 percent of surgical patients use opioids 1 year

1 after surgery, and assuming our test product could
2 reduce that risk by 50 percent, then we would
3 require about a sample size of about 1,000 patients
4 per arm for a 1-year study.

5 So again, doable, possible, but most likely
6 more applicable in a phase 4 study where we could
7 demonstrate the long-term benefits.

8 Phase 3 studies could inform the acute
9 opioid-sparing effects and would help us to require
10 the estimate size for a long-term impact on chronic
11 use, but as I said, the study design challenges are
12 many. Again, I will go back to Dr. Bateman's study
13 looking at shared decision making, where you would
14 need a commitment from both providers and patients
15 that the goal is to minimize unnecessary opioid
16 use; that you have to establish an opioid protocol
17 that IRBs and physicians would generally accept
18 that we are going to reduce greatly the amount of
19 opioids; and we would have to confirm in some
20 mechanism, opioid-free status over the observation
21 of the study.

22 In conclusion, opioid sparing in the acute

1 pain model, the impact of reduced opioids can be
2 done in the use of the context of adequate
3 analgesia. And it's multifactorial, so if the
4 center goal of today is to reduce opioid use, we
5 can do so by a model in which we obtain adequate
6 pain control, demonstrating reduction in
7 opioid-related adverse events, and improved
8 physical function.

9 This I think in the acute setting would lead
10 to a patient's perception and general well-being
11 being improved, knowing that they just had a very
12 painful surgical procedure in which they were able
13 to recover and to avoid the use of opioids. That
14 could then be carried over to a 7-day reduction in
15 opioids, which would serve as a good surrogate that
16 we would avoid likely the persistent opioid use.

17 With that, I'll turn it over to my other
18 colleague.

19 **Industry Presentation - Randall Stevens**

20 DR. STEVENS: Good day. I'm Randall
21 Stevens, chief medical officer for Centrexion
22 Therapeutics Corporation, clinical professor of

1 medicine at Rutgers Medical School, and I'm talking
2 about chronic pains of acute pain, and just trying
3 to put together a different approach to looking at
4 the treatment of patients with chronic pain and
5 opioids, to come to an outcome measure which is
6 going to balance the analgesic effects of drugs,
7 quality of life, function of patients, as well as
8 potentially reducing opioid use.

9 My main focus for analgesia is to improve
10 patient pain and reduce that, but the effect of
11 pain is on multiple different domains as outlined
12 on the left-hand side. With the reduction in pain,
13 one should be looking at each of these types of
14 measures, or at least a composite of them, so that
15 you balance the analgesic effects with the benefits
16 in function, quality of life, sleep, et cetera,
17 that may be impacted by this specific pain in the
18 patient.

19 There are general outcome measures which we
20 look at categorically: numeric pain scales, use of
21 rescue analgesics, and I won't read down the list
22 of general outcome measures for pain.

1 When you're looking at pain, and then
2 specifically areas that you want to assess, whether
3 that's in analgesic effects overall or in reducing
4 opioid use, the use of specific outcome measures in
5 the condition you are treating is most appropriate
6 and can be supplemented by other outcome measures
7 which are more general.

8 One of the key issues in looking at any pain
9 analgesic or trying to reduce opioids is what are
10 the patients you're trying to study. What is their
11 opioid use? What is the amount of their opioids
12 and how they're using them? If you're looking to
13 not initiate opioid therapy, then RCTs, randomized
14 clinical trials, are good. Patients on PRN opioids
15 need less or no opioids, and this can be done,
16 again, in an RCT.

17 I think with patients on long-term opioids,
18 that gets to be more complex. Patients who are
19 strongly motivated to reduce or stop opioids can
20 probably be done in randomized clinical trials.
21 Though the number of clinical trials are very good,
22 quality is sparse.

1 Patients' concerns of dependence,
2 withdrawals, et cetera, and needing multimodal
3 therapy, which are individualized to each patient,
4 is probably best real-world practice evidence.
5 Then of course, one has to ask the question, is
6 there really a utility of, quote, "opioid-sparing
7 language" in analgesics versus the products that
8 directly address the dependence and other issues
9 with opioids.

10 Opioid-sparing utility; we do want to reduce
11 opioids, but with an associated improvement in pain
12 control and function, because if we do not do that,
13 there is no utility in trying to get the patients
14 treated.

15 How to define opioid sparing in chronic
16 pain? Instead of absolute numbers of opioids, the
17 amount, let's start looking at a benefit-risk ratio
18 in patients. Decreased opioid use along with
19 increased pain relief in patients and benefit in
20 physical function, mental function, quality of life
21 are what we're looking for. And specific percent
22 or absolute reduction of stopping opioids can be

1 but need not be the final determination of benefit.

2 What we're looking for is reduced harm, and
3 that can be associated with the adverse events of
4 opioid use, and that can be done by reduction of
5 severity or the incidence of opioid-related adverse
6 events or outcomes.

7 Opioid sparing; what is a clinically
8 meaningful effect and reduction? This we can link
9 to what's a clinically important difference, and
10 just noted here, as I said before, there are many
11 studies, but many of them are not of high-quality
12 evidence.

13 What we've seen from a couple of studies is
14 that long-term and chronic-pain opioids are not
15 particularly effective. They're no better than
16 standard analgesics, but they do come with
17 additional harm. And with chronic analgesics, you
18 see studies where the pain of patients who are
19 non-opioid analgesics tends to be less, but the
20 adverse events and harms for patients on opioids
21 tend to be greater.

22 Opioids and chronic pain; can we look at

1 harms to assess? I've simply listed the adverse
2 events, which you see here, and withdrawal symptoms
3 also. So we need to look at both aspects for
4 patients.

5 Opiate harms and dose; here's a CDC
6 guideline review. And again, you have to choose
7 patients properly, the study properly, conditions
8 of the patients, and what you're trying to achieve.
9 You know that history of substance abuse disorders
10 associated with younger age, major depression,
11 psychotropic medications, the CDC has defined harms
12 as opioid harms, opioid-use disorder, et cetera.

13 One large fair-quality retrospective cohort
14 study found that there is an increased risk with
15 regard to overdose events which are nonfatal and
16 overdose events that are fatal. The MMEs per day,
17 you can see above.

18 When asking yourself how can we manage the
19 harm versus benefit and you're trying to figure out
20 what could be a good outcome measure, one way is to
21 identify subjects. And for clinical trials that
22 actually have the upper range of these MMEs because

1 by reducing one category or two categories, you
2 effectively reduce the hazard ratio or odds ratio
3 of a nonfatal or fatal overdose.

4 Try to build a profile of pain management,
5 opioid adverse events versus withdrawal symptoms,
6 and how can we combine what we know from the
7 standpoint of combined measures. We have absolute
8 change, percent change, time frame over one month
9 for trial duration and an analysis of AUC or
10 landmark.

11 Changes in opioid adverse events and
12 withdrawal symptoms, you can have overall score,
13 you can have the most bothersome adverse event and
14 withdrawal symptom. And there is precedent for
15 that because of opioid-induced constipation.
16 Naldemedine has been approved for the reducing of
17 opioid-induced constipation, and that was looking
18 at the number of spontaneous bowel movements.

19 The difference between that outcome measure,
20 response measure, between the drug and placebo was
21 between 13 and 19 percent, which was statistically
22 significant and considered to be clinically

1 meaningful. Therefore, if one wanted to have a
2 relatively simple measure, we'd enroll patients who
3 had opioid-induced constipation and use that as the
4 outcome measure of improvement.

5 Change in pain and pain-related events,
6 again, having specific and general outcome measures
7 to ensure that what you're doing for patients as
8 you provide the analgesia is to improve their state
9 and function, pain, and other outcome measures.

10 So what does this mean? In the literature,
11 there are a number of combined outcome measures.
12 This is the American College of Rheumatology 20
13 score, which includes tender and painful joints,
14 swollen joints, and then there's 5 other criteria,
15 3 out of 5 you have to meet, which includes patient
16 outcomes, a laboratory assessment, and a decision
17 investment. That has been used very effectively to
18 combine the patient outcomes and the objective
19 measures.

20 OMERACT and OARSI have done the same for
21 response with osteoarthritis, and in that case,
22 there's a 50 percent improvement in pain or

1 function with an absolute increase in improvement
2 by at least 2 or more; or there is picking 2 out of
3 3 outcome measures in pain, function, patient
4 global impression of pain, or other measure.

5 What that does, then, is that encompasses
6 both the patient outcome, a specific outcome that
7 is measured and combine many of these outcomes and
8 assessments.

9 So if we're defining success, this is not a
10 recipe, but if you're looking at change in pain,
11 function, change in associated measures, change in
12 opioid AE withdrawal symptoms, and change in opioid
13 dose, one can look across the chart and see where
14 the benefit is and at what point and the clinically
15 important difference in which areas would be
16 considered to be a meaningful benefit for both the
17 analgesic, but also in the opioid-sparing aspects.

18 Looking at this combined outcome is a way of
19 having both patient benefits on function, pain
20 reduction, and reduction in adverse events and
21 issues with opioids.

22 So overall, then, what we're looking at is a

1 combination of a drug for analgesia, which provides
2 improvement in function, quality of life, and other
3 outcomes that are impacted by the pain, as well as
4 a reduction in opioid harm and dose. And this
5 combination can actually be useful in defining
6 benefits and powering trials.

7 There are a number of precedents for this in
8 other areas where combinations of positive measures
9 are used. Thank you.

10 **Industry Presentation - Scott Kelley**

11 DR. KELLEY: Good morning. My name is Scott
12 Kelley. I'm the chief medical officer at Flexion
13 Therapeutics. My background is also in
14 anesthesiology and pain management. I'd like to
15 review with you this morning some opioid-sparing
16 considerations specific to chronic pain trials and
17 actually using osteoarthritis as a model
18 indication. These are my disclaimers, that these
19 represent my opinion and not an official position
20 of Flexion, and that I'm an employee and
21 compensated by Flexion.

22 So again, some starting assumptions; as

1 Dr. Hertz mentioned, one of the goals here is to
2 facilitate both the development and demonstration
3 of therapies that could have an opioid-sparing
4 benefit. If I put that as a category in
5 intervention -- and in this presentation, I'll
6 refer to intervention X -- being studied to achieve
7 opioid sparing, as we heard from Dr. Stevens, the
8 minimum expectation is it will maintain or improve
9 pain control in a chronic pain setting or
10 indication.

11 It's our hypothesis that intervention X
12 could demonstrate meaningful opioid-sparing effects
13 by preventing the initiation of opioid use, a
14 meaningful reduction in total dose of opioid, or
15 reducing the frequency of opioid use in a setting
16 of a chronic indication.

17 To bring some context to this, I do believe
18 a problem analysis for a chronic pain indication is
19 helpful, and I'd like to review with you the opioid
20 use in osteoarthritis as a background.

21 The key questions we look at in assessing
22 this situation is what is the current utilization

1 of opioids in a specific chronic pain indication of
2 osteoarthritis; and then secondarily, is that
3 utilization problematic?

4 Opioid use in osteoarthritis has actually
5 been recommended to be almost an end-stage
6 treatment for these patients with continuing
7 escalating pain as their disease progresses. And
8 because of the prevalence and the availability of
9 joint replacement, surgery as an optimal end
10 treatment of failed disease management or continued
11 disease progression could perhaps be the final
12 stage prior to joint replacement.

13 Opioids ideally are based upon a foundation
14 of other non-opioid analgesic strategies, both
15 pharmacologic and non-pharmacologic.

16 Guidelines are prevalent in this disease
17 space and include professional societies as well as
18 general guidance from CDC and the American Pain
19 Society. And they generally recommend opioids,
20 consistent with that triangle I just showed you, as
21 a last resort in osteoarthritis. Of note, some of
22 these guidelines do consider tramadol separately

1 and perhaps earlier in the OA treatment paradigm.

2 Despite this, the data from the literature
3 suggests that there's been a more prevalent
4 utilization of opioids in the chronic pain
5 indication of osteoarthritis. In 2012, one
6 evaluation of patients that received any degree of
7 prescription pain medications in a two-year period
8 found that 72 percent of those patients received an
9 opioid prescription.

10 More recently, in a review of 2014 Medicare
11 data patients, 40 percent had received at least one
12 opioid prescription and notably, a substantial
13 increase in the time period from 2003 to 2009, with
14 some correlations to gender, functional
15 limitations, COPD, and other statuses of general
16 overall health.

17 More recently, in 2017, using a very precise
18 linkage of the diagnosis of knee osteoarthritis
19 with an opioid prescription, an estimate of the
20 prevalence of opioid use was at 16 percent.

21 An abstract published this year at the
22 American Academy of Pain Medicine found a

1 description of utilization of different types of
2 opioids within osteoarthritis. In general -- and I
3 think this will be a clear situation for designing
4 a trial -- intermittent use, almost PRN use,
5 occurring less than or equal to 4 days per week of
6 opioid analgesics is common in osteoarthritis.

7 In addition to the utilization of opioids,
8 there are clear consequences that require
9 attention. There's been some focus already,
10 particularly from the CDC, about particular hazards
11 of use in the elderly patient population. These
12 patients in general have a higher number of
13 comorbidities and are likely more vulnerable and at
14 higher risk for complications. That may be
15 secondary to polypharmacy. The CDC did identify
16 the challenges related to delirium, falls,
17 fractures, and injuries in addition to motor
18 vehicle accidents.

19 We're also now seeing a specific focus on
20 the prevalence of opioid-use disorders in those
21 patients receiving opioids as part of their
22 management for arthritis. In this cluster

1 analysis, grouping patients with opioid-use
2 disorders, it was demonstrated that 10 percent of
3 patients with opioid-use disorders are actually
4 coming from older patients in the disease category
5 of arthritis. And interestingly, these patients
6 have a different pattern of care for their
7 treatment for opioid-use disorders.

8 We're also learning about the types of
9 opioids in addition to their prescribing patterns
10 will also seem to trigger some of the risk factors
11 for opioid-use disorders.

12 In this recent analysis, a retrospective
13 observational study data, it was demonstrated that
14 the utilization of long-acting opioids, or a
15 combination of long-acting opioids and short-acting
16 opioids were more likely to lead to a greater
17 diagnosis of opioid-use disorders within the
18 3-month time period.

19 In a similar manner, that use or that
20 pattern of opioid utilization in terms of intensity
21 of opioid treatment is also correlated with both
22 all-cause hospitalizations as well as

1 hospitalizations directly related to the diagnosis
2 of osteoarthritis.

3 As I mentioned earlier, because of the final
4 linkage of joint replacement surgery in the care of
5 patients with osteoarthritis, an interesting
6 perspective has been developing that opioid use in
7 osteoarthritis also drives greater use of
8 healthcare utilization at the time of arthroplasty.
9 In particular, long-acting opioid use prior to
10 arthroplasty predicts perioperative complications
11 in joint replacement surgery.

12 This can also have functional consequences
13 in reduced walking distance, the requirement for
14 discharge to skilled nursing facilities, increased
15 length of stay, and the overall 90-day complication
16 rate.

17 With a focus on patients undergoing total
18 hip arthroplasty, prior opioid use is linked to
19 continued opioid use after discharge, longer
20 hospital stays, and significantly worse clinical
21 outcomes when assessed by a Harris hip score
22 following hip replacement.

1 This slide also shows that prior opioid use
2 in osteoarthritis will also drive increasing
3 duration and frequency of utilization of opioid
4 prescriptions after arthroplasty. So as we heard
5 earlier that the onset of acute pain in surgical
6 procedures is a clear landmark, prior opioid use
7 can oftentimes accelerate the potential
8 implications of acute pain.

9 This landscape analysis of the problem of
10 opioid use within osteoarthritis gives some key
11 takeaways. The treatment guidelines generally
12 recommends opioids as a last resort, yet it's not
13 clear that that's the way current physicians are
14 utilizing it.

15 Opioid use in managing osteoarthritis pain
16 is well documented, but there are widely disparate
17 rates of use anywhere from 16 to 72 percent, which
18 makes it challenging to fully quantify the
19 magnitude of the problem.

20 Recent characterization that intermittent
21 use of short-acting opioids appears to be the most
22 prevalent regimen, and the specific agents

1 hydrocodone, oxycodone, and tramadol appear to be
2 the most widely prescribed.

3 This landscape analysis also reviewed some
4 of the consequences of opioid use in osteoarthritis
5 patients and identified consequences that are of
6 significance. Taken together, this analysis
7 suggests that opioid use in osteoarthritis does
8 appear problematic and therefore represents an
9 unmet need worthy of opioid-sparing interventions.

10 So with that as a backdrop, we've thought
11 about potential trial design considerations that
12 can evaluate the opioid-sparing effect within that
13 chronic pain indication.

14 As I said earlier, there are three potential
15 objectives and perhaps different patient
16 populations where this could be demonstrated in a
17 specific disease indication. The first is
18 preventing opioid use, the second is reducing the
19 total opioid dose, and finally, reducing opioid
20 utilization.

21 For preventing opioid use in osteoarthritis
22 pain, a simple randomized-controlled trial would

1 seem reasonable to achieve here, that we could
2 imagine taking patients with baseline
3 osteoarthritis pain that is escalating or
4 challenging and is no longer able to be
5 appropriately managed by non-opioid pharmacologic
6 and non-pharmacologic strategies.

7 These patients could then be randomized to
8 either a placebo or this intervention X, and at
9 some point to assess for both continued management
10 of osteoarthritis pain control; that is pain
11 management persists or is improving in that time
12 frame, as well as the time to initiation of first
13 opioid dose.

14 This will also present some both
15 opportunities and challenges. It is randomized,
16 blinded, and placebo controlled. It may be
17 particularly challenging to find patients and
18 actually providers who are willing to identify
19 patients who are right at the cusp of opioid
20 initiation.

21 Likely, it will require screening tools to
22 identify those patients with other motivating

1 tendencies towards opioid initiation. The CDC has
2 also provided guidance on helping us identify some
3 of those patients. Importantly, this actually
4 removes opioid administration as a fundamental
5 element as part of the trial because it's the
6 endpoint of a need to progress to opioid analgesia.

7 Reducing opioid use in the current patient
8 population taking opioids for osteoarthritis pain
9 is another approach. We believe there is a
10 population of patients, and I think the recent data
11 suggesting that three-quarters of patients are in
12 some ways utilizing intermittent short-acting
13 opioids less than or equal to 4 times per week
14 represents that population.

15 If they have achieved a stable pain control
16 with this intermittent opioid use, they could then
17 again be randomized to an intervention versus
18 placebo, and then we could assess their outcomes
19 for both pain control and total opioid use over
20 some time period, and we're proposing here 4 weeks,
21 with additional follow-up for at least 3 months.

22 This does provide the strength of a

1 randomized blinded placebo-controlled study.
2 There's a strong preference to study patients who
3 are already on opioids as opposed to either
4 withdrawing or initiating opioids as part of a
5 rescue medication regimen.

6 Ideally, a 30-day run-in period would allow
7 the assessment and confirmation that patients are
8 achieving adequate pain control with stable
9 intermittent opioid dosing. Again, it's important
10 to include screening tools and other tests to
11 minimize the inclusion of patients who are misusing
12 opioids.

13 The idea that the patients could maintain
14 their own 30-day opioid prescription is ideal, but
15 these patients would have to agree and participate
16 with pill count measures as an outcome measurement.

17 The 4-week time frame for a final read-out
18 to minimize the influence of seeking the next
19 opioid prescription seems reasonable, but
20 importantly, to follow patients for 3 months after
21 the intervention to assess the durability of
22 opioid-sparing effects. We expect this trial will

1 be difficult to execute in a pre-approval setting.

2 Finally, most challenging is trying to look
3 at the larger population -- and, again, that number
4 could be up to 70-75 percent of patients currently
5 taking opioids -- in reducing their overall opioid
6 utilization. We believe the right approach here is
7 a real-world evidence strategy.

8 There are new tools available, patient
9 registry or a variety of electronic health records
10 and individual healthcare systems or integrated
11 delivery networks. This could identify a cohort
12 population of osteoarthritis patients that are
13 currently taking opioids, and then either using a
14 different approach to randomization with propensity
15 matching over time or pragmatic randomization in a
16 delivery care model.

17 The care with approved intervention X as
18 opposed to care without approved intervention X
19 could then be assessed and the number of opioid
20 prescriptions provided to those patients and filled
21 over 1 year.

22 This is clearly a different trial design,

1 but it does allow the potential for assessing
2 opioid sparing with the currently available
3 non-opioid therapies. There are always challenges
4 with the quality and completeness with electronic
5 health records as a data source, and there's
6 particularly an evolving regulatory landscape
7 regarding expectations for the quality and detail
8 of real-world evidence studies to support approval
9 of new indications and labeling.

10 In terms of defining proposed endpoints for
11 these three different types of trial designs, for
12 prevention of initiation of opioids, I suggest that
13 preventing at least 20 percent of patients, 1 in 5,
14 from initiating opioids would be a meaningful
15 reduction in that utilization of opioids.

16 For reducing the current total opioid dose,
17 there seems to be a general acceptance now of a
18 50 percent reduction as a meaningful amount of
19 opioid reduction in the chronic pain setting.

20 At the IMMPACT meeting this July, there was
21 general acceptance, particularly if the patients
22 are currently receiving greater than or equal to

1 100 milligrams morphine equivalents. We held a
2 recent advisory board with a variety of opioid
3 stakeholders -- patients, orthopedic surgeons,
4 rheumatologists, pain management physicians -- that
5 also agree that a 50 percent reduction in current
6 opioid dose is clinically meaningful.

7 Our hypothesis is that a 50 percent
8 reduction -- and I think we heard some linkages
9 from Dr. Stevens that, that degree of reduction,
10 particularly with the risk reduction for opioid-use
11 disorders, both fatal and nonfatal -- is sufficient
12 that it doesn't require additional reduction in
13 opioid adverse events.

14 How long is feasible? Again, a proposal of
15 4 weeks is a reasonable and feasible time frame as
16 long as it's coupled with a follow-up phase to
17 gather durability data.

18 In terms of reduction in opioid utilization
19 and in terms of reducing the total pill counts that
20 are entering into the home and the public system
21 and the potential for diversion and misuse,
22 suggesting that a 20 percent reduction in opioid

1 prescriptions written over a 1-year time period
2 would be a meaningful improvement.

3 I hope our interactions today may explore
4 some of these regulatory considerations.

5 Demonstration of opioid sparing in the chronic pain
6 setting is particularly challenging, especially in
7 support of an approved label claim, and will take
8 innovative thinking and collaboration between
9 sponsors and the FDA.

10 In the pre-approval setting, FDA should
11 prioritize review of clinical trial protocols
12 aiming to study opioid sparing as a robust
13 secondary outcome measure. FDA should characterize
14 within product labeling any opioid-sparing
15 experience from pre-approval trials that assess
16 that endpoint in a valid, high-quality manner.

17 Ideally, the FDA should encourage sponsors
18 to collect post-approval opioid-sparing experience
19 through real-world evidence studies and be open to
20 including those data regarding opioid-sparing
21 results within labeling.

22 In conclusion, characterizing opioid use in

1 a specific chronic painful condition is critical to
2 understanding the unmet need and identifying a
3 target patient population for study. We reviewed
4 that chronic disease of osteoarthritis this
5 morning.

6 Any product enabling opioid sparing, at a
7 minimum, must maintain or improve pain control for
8 these patients. This morning, we reviewed three
9 separate clinical trial objectives that could
10 represent meaningful opioid sparing: a greater
11 than 20 percent prevention rate in initiation of
12 opioids for these patients; a greater than
13 50 percent reduction in opioid dose over 4 weeks;
14 and a greater than 20 percent reduction in opioid
15 prescriptions written over 12 months.

16 Innovation and collaboration between
17 sponsors and FDA are critical to initiating
18 meaningful progress on opioid sparing for chronic
19 pain patients. Pathways for describing
20 opioid-sparing effects in product labeling is
21 critical to enable proactive sponsor
22 communications. Thank you.

Clarifying Questions

1
2 DR. BATEMAN: Are there any clarifying
3 questions for BIO? Please remember to state your
4 name for the record before you speak. If you can,
5 please direct questions to a specific presenter.

6 Dr. Higgins?

7 DR. HIGGINS: Jennifer Higgins. This is a
8 question for Dr. Kelley. I'm trying to get a sense
9 of your recommendation that we reduce the
10 20 percent opioid use over a 1-year prescription
11 period. I didn't see a background for that number.
12 Was that just your best guess or do you have data
13 to support that?

14 DR. KELLEY: There is no specific data to
15 suggest that, but again, it's that idea of reducing
16 20 percent in terms -- and particularly with the
17 recent Medicare evaluation showing there's been an
18 increase in opioid prescriptions over time, to
19 somehow bend that curve. And again, a 1 in 5
20 reduction I think passes that initial test of what
21 could be meaningful.

22 DR. BATEMAN: Dr. Suarez-Almazor?

1 DR. SUAREZ-ALMAZOR: Thank you for your
2 presentations. This is a general question that
3 applies to all three presenters. Other than the
4 last example using real-world data, I'm a little
5 unclear how in these other trials, it would be
6 proposed to measure the opioid use.

7 There are two approaches to this. One would
8 be a more pragmatic clinical trial aspect where,
9 basically, the participants would be allowed to
10 take opioids on demand. The other one would be an
11 approach where the opioid prescriptions are driven
12 by the level of analgesia. And it wasn't clear to
13 me what the proposals were from that respect, more
14 of an efficacy versus effectiveness approach.

15 DR. STEVENS: I'll be short. So it depends
16 on what you are trying to achieve in the patient
17 population within which you're studying. So if
18 you're looking, as I say, at high users that are
19 using large numbers of MMEs, then you may want to
20 have them go down to a lower level to reduce risk
21 of overdose and overdose death.

22 It depends on the indication for what you're

1 looking at. Overall, the idea is to look at the
2 harm of opioids, and each individual patient tends
3 to have a different response to that dose and that
4 harm.

5 So that's why I didn't give a specific
6 amount, per se, of opioid reduction, but actually
7 looking at a more general approach of maintaining
8 analgesia or improving analgesia while at the same
9 time improving function, quality of life, and the
10 opioid reduction is based on reducing the adverse
11 events associated with that opioid. That would be
12 then a composite measure that I've talked about.

13 DR. SUAREZ-ALMAZOR: Yes. I guess my
14 question is more around that composite measure
15 because if whether they take opioids or not is
16 related to the actual degree of analgesia, then the
17 composite measure is already -- I mean, they are
18 not independent, so I was a little unclear how that
19 would be operationalized.

20 DR. STEVENS: Sure. So if you look at the
21 American College of Rheumatology, ACR 20 for
22 rheumatoid arthritis, you have two requirements, a

1 reduction of 20 percent in painful and swollen
2 joints, and then you have 5 other measures, which
3 include patient and physician assessment of pain,
4 an NSAID rate or CRP, and other outcome measure.

5 What that does is it gives you objective
6 measures and it gives you subjective measures. In
7 that, you can also include a composite to look at
8 the adverse event profile of patients on opioids.
9 And you could choose, as the other presenter said,
10 a list that always has the adverse events and their
11 severity, collected that way. You can look at a
12 specific outcome measure, which would be the
13 constipation induced by opioids. And then you
14 would have to enroll patients who actually had that
15 specific adverse event to look at a reduction.

16 So it really depends on the type of patient
17 indication for which they're receiving analgesia
18 and what you're trying to achieve from the
19 standpoint of benefit versus harm.

20 DR. BATEMAN: Dr. Lorenz?

21 DR. LORENZ: Sure. I wonder if each of the
22 presenters could comment on the issue of durability

1 of opioid reduction. I was surprised to hear a
2 4-week recommendation. I think of opioid reduction
3 as an extremely difficult undertaking.

4 Four weeks for smoking cessation would be
5 problematic for most patients, so I'm kind of
6 surprised by the brevity of the claim, and I
7 wondered if you could comment on the evidence or
8 lack of evidence supporting a duration.

9 DR. KELLEY: That specific trial design
10 mentioned 4 weeks as a feasible endpoint for a
11 clinical study, particularly in the backdrop of the
12 patient who already has an opioid prescription,
13 ideally for a 30-day window. In addition, it then
14 led into a 3-month follow-up period. So that total
15 management experience would be over 3 months.

16 As we've approached this, the real challenge
17 is with how the sponsor may have to enable opioid
18 administration in the trial design, and this is one
19 way of looking at that without withholding the
20 access of the patient to those prescriptions.

21 DR. BATEMAN: Dr. Michna?

22 DR. MICHNA: My question is for everybody

1 again. It's the issue of the role of patient
2 education prior to acute surgery pain patients and
3 what role that plays in terms of opioid
4 utilization, and the variability of that in the
5 real world compared to your clinical trials. So in
6 other words, how transferable is that to the real
7 world when you have a study where patients
8 supposedly have a higher level of education and
9 expectation setting as opposed to what happens in
10 the real world.

11 DR. SCRANTON: That's an excellent point,
12 and I do agree that in our trials that we've run
13 today, we don't actually do as much patient
14 education. I think for a follow-on study, if
15 you're trying to offset the continuing use of
16 opioids, there's going to have to be a lot of
17 shared decision-making in setting the right kind of
18 ideal outcome with regard to the patient's
19 perspective; how much pain are they able to
20 tolerate and how much are they willing not to use
21 opioids to treat that pain?

22 That is a different trial. It requires a

1 lot of education and training, and it's some of the
2 work that other groups have been doing, American
3 College of Surgeons, to provide that patient
4 education, setting expectations, and the right
5 appropriate outcomes after surgery, but is very
6 variable.

7 I'd also say there are also other factors,
8 such as catastrophizing and other patient factors,
9 too, that significantly impact our ability to avoid
10 opioids in the acute setting as well.

11 DR. STEVENS: Also to that point and the
12 other point, if you have a patient who's using PRN
13 opioids sporadically, the likelihood of being able
14 to get them off opioids in a shorter period of time
15 is better than someone who's on a chronic daily
16 high dose. That's going to take a long time, and
17 those patients actually have to agree that they
18 want to reduce their opioid dose; otherwise, you're
19 going to go nowhere.

20 The other thing, although we talked about
21 patient training, is also physician training. I
22 think, if you're going to set up for reducing

1 opioids, then you actually have to have a
2 proscribed way of reducing opioids and also a
3 proscribed way of when to initiate or continue
4 opioids for the physician because you have to
5 reduce the number of variables in order to actually
6 get an outcome measure in a reasonable amount of
7 time with a reasonable number of subjects.

8 DR. KELLEY: In addition to that, I think
9 the patient motivation is probably increasing to a
10 very fast extent. At our recent advisory board, we
11 had a representative from a patient advocacy group,
12 and they were challenging us. They said that
13 reduction should be to zero percent.

14 So that belief that they're looking for pure
15 non-opioid analgesic substitutions is pretty
16 strong. It's very challenging. But they believe,
17 yes, educating their network of patients, and for
18 osteoarthritis, it's a very large network. So I
19 think that patient motivation and education will be
20 critical to these trials.

21 DR. BATEMAN: Dr. Rosenberg?

22 DR. ROSENBERG: I was wondering about

1 whether or not there's been some exploration to
2 better describe opioid sparing because opioid
3 sparing, as a term, is being used to describe a
4 wide variety of conditions from opioid avoidance,
5 opioid dose reduction; whether or not we want to
6 precisely describe what opioid sparing is in a
7 different language rather than try and fit opioid
8 sparing for acute pain, a chronic pain; whether or
9 not we need precise language that would better
10 inform the prescribers in how we're labeling our
11 medicine; and whether or not opioid sparing should
12 be what we're trying to loop all these benefits
13 under.

14 DR. BATEMAN: Dr. Hertz?

15 DR. HERTZ: And that's why we're having this
16 advisory committee. So let's hold that discussion
17 for when we're done with all the presentations.

18 DR. BATEMAN: Dr. Litman?

19 DR. LITMAN: Thank you. So the challenge
20 here, which is obvious, is that there are so many
21 different pain models, and all these considerations
22 are different.

1 Dr. Scranton, I will push back a little bit
2 on what you defined as short-term benefit. You had
3 said it's 1 to 4 weeks. Now, traditionally, this
4 committee, when we evaluate an NDA and we would
5 potentially in the future give opioid-sparing
6 language on the label, the studies we evaluate are
7 for short-term painful procedures, like
8 bunionectomy is a popular one or some kind of
9 abdominoplasty.

10 In my career as an anesthesiologist, I can't
11 think of many acute procedures where the pain
12 requires opioids for even up to a week. I mean,
13 for the vast majority, there's got to be exceptions
14 of course. Most patients don't need opioids after
15 48 and possibly 72 hours, and obviously that's just
16 a guess. And the ones that do are outliers. I
17 would think that, also, it would be very difficult
18 for a company, a sponsor to both financially and
19 logistically carry out those kinds of evaluations
20 past that time period.

21 So I just wanted to ask you about the short-
22 term follow-up of 1 to 4 weeks. It seems kind of

1 long to me for a procedure that we would normally
2 be evaluating here.

3 DR. SCRANTON: I agree with you.
4 Unfortunately, the literature will support that
5 there is a lot of excess opioid use that is
6 prescribed even after minor surgeries. And there
7 is a 50-fold variation even within a single
8 institution. So that's one challenge.

9 But I do agree that in the short term, while
10 I was using 1 week, even in any trials that we run,
11 we have safety follow-up to do that expands beyond
12 even the duration of the drug, so that's a
13 reasonable time period. We typically in all of our
14 trials will have at least a 30-day follow-up for
15 safety. So adding a question with regards to
16 overall benefit or analgesic consumption during
17 that time would be still doable even in an acute
18 trial setting because of the required safety
19 follow-up.

20 But that's why I did limit that -- we could
21 agree on what an appropriate surrogate would be,
22 and maybe 7 days, based on the literature, if you

1 could avoid opioid consumption within 7 days, that
2 should then theoretically lead to a reduction in
3 persistent use in general.

4 DR. BATEMAN: I have a question for
5 Dr. Scranton. You mentioned in your presentation
6 that a 10- to 20-milligram morphine-equivalent
7 reduction in opioids was correlated with an
8 improvement in patient-reported outcome measures.
9 I just wondered if you could elaborate on that a
10 little bit, the clinical context where those data
11 were generated.

12 DR. SCRANTON: So that's part from Zhao's
13 work, when they looked at the incremental
14 differences in opioid use and linked that to side
15 effects and burdensome side effects with patients.
16 But to your point, there hasn't been a lot of
17 studies that really demonstrate that, and there's
18 high variability from a person's perspective. We
19 know that a single dose of 5 milligrams of
20 oxycodone can cause nausea and vomiting in
21 patients, so it does need to be better defined.

22 DR. BATEMAN: But the Zhao study; was that

1 an inpatient setting, and was a reduction in
2 20 milligrams in a given day?

3 DR. SCRANTON: It was for every incremental
4 increase in opioids, and they demonstrated an
5 impact on patient-reported, quality-of-life
6 outcomes in the acute setting.

7 DR. BATEMAN: In the acute setting.

8 Dr. Goudra?

9 DR. GOUDRA: Hi. Basavana Goudra from Penn
10 Medicine. This question is for Dr. Scranton. On
11 slide 5, you mentioned that patients who use 1 day
12 of opioids, at least 7 days, had twice the risk of
13 using opioids chronically 1 year after surgery.
14 Further on, you also stated that 1 in 16 opioid-
15 naïve surgical patients become chronic user.

16 Can you elaborate on the kind of evidence
17 you have to support that? I mean, you have a CDC
18 study, which is presented as a --

19 DR. SCRANTON: There are scores of studies.
20 The first one that reported that goes back to 2012
21 was Heinbel [ph] reported the incidence of
22 persistent opioid use after minor surgery, even

1 laparoscopic procedures, and that rate was
2 somewhere in the range of about 1 in 15. Carroll
3 out of Stanford repeated that study.

4 Since that time, there have been scores of
5 studies not always showing the same percent
6 prevalence rate, some showing greater; for example,
7 patients undergoing bariatric surgery, who may be
8 particularly prone if they've already demonstrated
9 an addiction to food, which is a dopamine reward
10 system. You remove that, and then you give them
11 another reward, which is opioids. Their persistent
12 rate is much higher. A persistent rate after total
13 knee also may be higher. A persistent rate of
14 patients who have a prior history of addiction or a
15 prior substance abuse such as smoking; those rates
16 may be higher.

17 What I did, it's an aggregate or
18 conservative estimate. If you look at all of that
19 across the general patient population, it's
20 somewhere between 1 and 15, which is that 6 percent
21 rate.

22 The CDC is had looked at -- there was a

1 determination, is it a duration of exposure or is
2 it the amount of exposure, and do those correlate
3 with a greater likelihood for persistent use. I
4 think the jury may still be out on that, but it
5 does tend to seem a longer duration of exposure,
6 even at the recommended dosages. If you're on
7 7 days, the risk goes up. If you're on 2 weeks or
8 if you're on 30 days, your likelihood of having
9 persistent use continues to increase.

10 DR. GOUDRA: Are there any factors other
11 than, say, genetically? Do these patients handle
12 drugs any differently? Is there any evidence in
13 that direction?

14 DR. SCRANTON: There is a lot of work in the
15 genetic, particularly with regards to metabolism of
16 opioids, and some subset of patients may indeed be
17 at greater risk. Some of the work of the DoD for
18 pain catastrophizing and all that also may be a
19 strong predictor, as well as depression and other
20 factors.

21 There's a lot more we should know, and that
22 information I do think is part of the education to

1 patients. Giving out opioids, even at the
2 recommended doses, are associated with potential
3 harms.

4 DR. GOUDRA: Thank you.

5 DR. BATEMAN: Dr. Budnitz?

6 CAPT BUDNITZ: Thank you. This is a related
7 question for Dr. Kelley. I think it's slide 20 on
8 trial design, preventing opioid use in OA pain.
9 You talked about including screening tools to
10 identify patients with other motivating tendencies
11 towards opioid initiation.

12 Are you suggesting to exclude such patients
13 with such tendencies or what was the point of that
14 bullet? Could you explain that?

15 DR. KELLEY: I think it's a real challenge
16 and likely to exclude those patients. If there are
17 other motivations for pursuing current or access to
18 opioids, there are real confounders. So to really
19 measure the efficacy of the drug, I would want to
20 remove those patients from the trial.

21 CAPT BUDNITZ: So you do have a randomized
22 design, though, so those patients should be

1 randomized to each arm. Right? So is the point to
2 try to look at other factors that might be in the
3 post hoc analysis? That's what I'm trying to
4 understand.

5 DR. KELLEY: Yes. And again, in the pain
6 study, these types of patients are really truly
7 confounders, both for pain reporting and achieving
8 their goals. So I think specifically to assess the
9 overall efficacy in a cleaner patient population is
10 worthwhile.

11 CAPT BUDNITZ: I'm sorry, so just one point.
12 So you would exclude patients who have some kind of
13 tendencies in the trial?

14 DR. KELLEY: Yes

15 CAPT BUDNITZ: Is that what you're saying?
16 Okay.

17 DR. BATEMAN: Dr. Floyd?

18 DR. FLOYD: I also have questions about
19 study design. In all the presentations I've seen,
20 placebo-controlled study designs are proposed for
21 the trials that have been done or for the future.
22 I have two questions. One, why the preference for

1 placebo-controlled designs instead of active
2 comparators?

3 Second, in all these placebo-controlled
4 studies, is the background other effective
5 analgesia? So my question is, are people getting
6 an intervention or placebo on the background of no
7 other therapy or are they all getting standard of
8 care, other therapies? For all the presenters.

9 DR. SCRANTON: That's a great question, and
10 it's one that we've had much discussion about.
11 Theoretically, I would say, since there isn't a
12 comparator that I can go against that has
13 established an opioid-sparing claim, we are in
14 novel territory. There's no drug, Tylenol,
15 non-steroidals, that have a claim that they have
16 opioid sparing.

17 So if we look at McQuay's work as far as an
18 opioid consumption or analgesic consumption model,
19 that's really what we're talking about. In this
20 case, we're using opioid as the analgesic
21 consumption that we're trying to avoid. So in that
22 case, it makes sense that my drug is being compared

1 against the opioid as a way to reduce the opioids.

2 If I was to go against another active
3 comparator, then my claim would be that I am more
4 opioid sparing than that active comparator;
5 different study, different goal. But you're
6 absolutely correct, and as we've heard from the
7 patient preference and pushback from physicians,
8 there's the presumption that the majority of
9 providers out there want to give some type of
10 multimodal opioid sparing, particularly in acute
11 pain.

12 The reality is, however, when we do real-
13 world studies, there is no standard of what that
14 multimodal regimen would be for any given pain
15 model, and there's wide variation and acceptance of
16 those adjunctive therapies.

17 In the one trial I did show there, they did
18 have a background. Both groups did get
19 acetaminophen, for example, because an IRB would
20 not allow us to allow that at least to be part of
21 the regimen. But I think, from a purer standpoint,
22 no, the comparator would be against what we're

1 trying to reduce, which is the opioids. The
2 placebo is there for the placebo effect.

3 DR. BATEMAN: Dr. Rosenberg?

4 DR. ROSENBERG: This is for any of the
5 responders.

6 DR. FLOYD: Can I follow up?

7 DR. BATEMAN: Dr. Rosenberg, Dr. Floyd had a
8 follow-up question, and we'll come back to you.

9 DR. FLOYD: Just a very brief comment on the
10 response, and I can save this for the afternoon,
11 too. It sounds like what's being proposed are
12 placebo-controlled trials in the background of
13 little or no other analgesia, and I have serious
14 concerns about that.

15 I think that any drug that has analgesic
16 properties, you will see some reduction in opiate
17 use in a health system where opiates are
18 prescribed. It's simply another dimension or
19 element of that effect of analgesia, that you don't
20 see the downstream effects.

21 So I would like to have some discussion
22 later today about the various study designs and

1 whether this opioid-sparing kind of language is a
2 reliable outcome.

3 DR. KELLEY: I do have to make a comment
4 that, in particular for a chronic pain indication,
5 particularly for osteoarthritis, where you assume
6 that treatment paradigm includes a variety of both
7 pharmacologic and non-pharmacologic analgesic
8 strategies, so the core layers include both non-
9 steroidal anti-inflammatories, acetaminophen, and
10 other analgesics, there's no withdrawal of that.

11 I think we are specifically trying to
12 measure the incremental efficacy of an intervention
13 in order to prevent opioid utilization or path to
14 opioids. Finally, in the real-world evidence, it
15 was not randomized to placebo but a comparison of
16 care with intervention X versus current standard of
17 care or other interventions, to really look at that
18 overall net effect. So there's no withdrawal of
19 other analgesics that are supporting these
20 patients.

21 DR. BATEMAN: Dr. Rosenberg?

22 DR. ROSENBERG: The guidelines for both

1 acute, chronic, and end-of-life care for pain all
2 involve multimodal analgesia, and it's talking
3 about not just medications, but also physical
4 treatments as well as psychological treatment.

5 Is that accounted for in your study design,
6 that multimodal is being applied? And is it fair
7 to just focus on the opiates when, for example,
8 non-steroidals, which have a known side effect
9 profile -- shouldn't they be also part of the mix
10 of side effects that we're trying to reduce while
11 looking at multimodal treatment?

12 DR. SCRANTON: So yes, this has particularly
13 been complex in the acute pain trials. There are a
14 lot of medications that are, I would say, the
15 kitchen sink, gabapentin used with limited
16 evidence. Non-steroidals, however, in some cases,
17 in spine surgery, they want to avoid non-steroidals
18 because of concern of impact on fusion.

19 So again, we have to pick what we think is
20 the appropriate pain model. And then yes, in some
21 cases, we will have a background of some acceptable
22 other non-opioid analgesic medication. As I said,

1 acetaminophen perhaps will be there as an added
2 therapy. But there's such a variety of different
3 interventions, oftentimes we're going to have to
4 limit what the number of those interventions are in
5 the trial as we're trying to determine equal
6 analgesia, and then reducing opioids, and then
7 measuring the impact of that difference in the
8 opioid consumption.

9 These will be the challenges of how we
10 design those acute pain trials because of that
11 complexity. And there are other things, cooling
12 packs, ice packs, psychotherapy. There are a lot
13 of other things we could actually add on. Those
14 become very large phase 4 studies of comparative
15 effectiveness, of each one of those interventions.

16 DR. KELLEY: That's a great question about
17 the utilization of the multimodal strategies.
18 Recently, at the American College of Rheumatology,
19 a large dataset looked at guideline compliant care,
20 which included those fundamental pharmacologic and
21 non-pharmacologic strategies beneath opioid
22 utilization.

1 Those patients that did not have guideline
2 concordant care were more likely to receive an
3 opioid prescription than those patients that did.
4 Distressingly, some of those utilization of
5 guideline-compliant care were associated with lower
6 socioeconomic status, BMI, and other factors that
7 are difficult to control. But fundamentally, I
8 think adherence to that concerted and pretty
9 rational use of multimodal strategies to control
10 osteoarthritis-related pain is something we would
11 have to factor into our trial design.

12 DR. STEVENS: One other aspect to get to
13 your point is that in the chronic pain trials, you
14 can use rescue medication in both arms and then
15 sequence the use of those medications, such as
16 acetaminophens, NSAIDs, opioid, so that you have
17 the same sequence in each group and you can see how
18 many patients go from acetaminophen to NSAID to
19 opioid or back again, depending on the
20 effectiveness of the analgesia over time.

21 DR. BATEMAN: Are there any other clarifying
22 questions for BIOS?

1 (No response.)

2 DR. BATEMAN: If not, we're a little ahead
3 of schedule, but we'll move on to the first guest
4 speaker presentation.

5 Our first guest speaker is Dr. Chad Brummett
6 from the University of Michigan.

7 **Guest Presentation - Chad Brummett**

8 DR. BRUMMETT: Good morning. I'm Chad
9 Brummett. I'm an anesthesiologist and a pain
10 physician at the University of Michigan, and today,
11 I'll be talking about the Opioid Prescribing
12 Engagement Network, an effort here at the
13 University of Michigan that is actually a statewide
14 effort, together with the Department of Health and
15 Human Services, with funding from NIH and BlueCross
16 BlueShield of Michigan.

17 I do have disclosures. I have funding from
18 the NIH as well as Michigan Department of Health
19 and Human Services, SAMHSA, CDC, as well as
20 Department of Anesthesiology funding. I have a
21 patent that I won't discuss today, and it has no
22 current royalties. And I consult for both Recro

1 Pharmaceuticals and Heron, and I won't discuss any
2 of their products today.

3 I was asked to maybe put this into context
4 of why surgical prescribing matters, and we've
5 talked a lot about data today. I started giving
6 this talk a couple years ago, and I would say
7 78 Americans die every day from opioid-related
8 mortality, and then 91, and then 115, and now
9 today, 134 deaths per day.

10 Again, we put it in numbers, and those are
11 striking numbers, but I'm moved by stories. And as
12 we think about surgery, I think it's important to
13 remember these stories are real, and I hear them
14 every week. I go back to the story of the mother
15 whose husband became addicted to opioids after his
16 spine surgery, and whose daughter found him in the
17 bathroom with a heroin syringe in his arm, dead.

18 Or if you flip it around and don't think
19 about the patient who's actually having that care
20 that day; in other words, we've talked a lot about
21 the individual, but the spillover effect in the
22 community, I'm moved by the stories of the Savage

1 Foundation in South Bend, Indiana, where I was
2 introduced by a surgeon.

3 My colleague, Mark Thompson, introduced me
4 and said, "We lost two boys in our community, high-
5 school boys. They took a couple pills, they drank
6 a couple beers, and they went to bed, and they
7 didn't wake up." And while I knew I never cared
8 for those boys, I wondered if it was my excess
9 surgical prescribing that led to their
10 experimentation that night and directly led to
11 their death.

12 I think putting this in context is important
13 because I'm not against opioids. I believe they
14 have a role in acute pain. I manage patients in my
15 pain clinic with opioids, but I think we've gone
16 way too far with surgery.

17 Our group took a bend a couple years ago
18 that I think was important. It really felt like
19 most of the country was focused on all these
20 downstream effects; the chronic user, what to do
21 with medication-assisted treatment. These are
22 really important topics that merit more funding,

1 more attention. But at that time, there weren't
2 very many people talking about this. If we're
3 going to have a healthy narrative around opioids,
4 shouldn't we be doing what we're talking about
5 today, which is to think about a preventative
6 narrative?

7 In other words, can we take these
8 predictable exposures like surgery and shepherd
9 people through a path where they don't go down a
10 path of morbidity and where they don't pose a risk
11 to their friends or family members?

12 We published a study earlier this year
13 showing that the relative contribution of new
14 prescriptions when you think about surgery and
15 dentistry is increasing. As the CDC guidelines
16 have kicked in and the preamble to the CDC
17 guidelines, that bottom category there, the yellow,
18 which is primarily primary care and family practice
19 physicians, is decreasing over that 7-year period
20 while, by comparison, surgery and dentistry have
21 increased.

22 Moreover, surgeons prescribe by far the most

1 opioid in that first exposure, and that went up
2 pretty significantly between that 2010 through 2016
3 range. However, if you really even look at the
4 last couple years, the 2014 to 2016 range, we see
5 that surgery remains high and remains flat, and at
6 the bottom there, primary care physicians have
7 started to reduce the amount that they prescribe.

8 Now, as an anesthesiologist, it's maybe not
9 fair for me to come in and tell surgeons what they
10 think, but I actually think the same things as a
11 pain physician. I worry about time. I worry about
12 phone calls. I worry about patient satisfaction.
13 And we put perverse incentives between patient
14 satisfaction and pain med. And even as we've
15 removed that HCAP incentive, people still care.

16 We've published a couple papers, and I know
17 Dr. Bateman has similar data to show that there is
18 no association between the number of pills
19 prescribed after surgery and patient satisfaction
20 with their care, nor is there an association
21 between the number of pills prescribed after
22 surgery and the likelihood of refill.

1 Refill happens. If you take abdominal
2 surgery, it happens about 7 percent of the time.
3 But whether you prescribe the equivalent of less
4 than 6 pills of hydrocodone or more than 60, the
5 refill rate is about 7 percent, and it doesn't vary
6 whether you look at it in an adjusted way or an
7 unadjusted way.

8 Dr. Bateman did a similar study in women
9 undergoing C-section, obstetrics patients
10 undergoing C-section, showing that the more you
11 gave patients, the more they took with no changes
12 in pain satisfaction or refill rate.

13 We talked a little bit about this in the
14 last couple lectures, and just to sort of
15 complement, what is that morbidity, what are we
16 talking about today, and why is this important,
17 this is probably the paper for which we got the
18 biggest splash.

19 We showed that about 6 percent of people not
20 using opioids in the year prior to their surgery
21 continued to fill long past what would be deemed
22 normal surgical recovery. These are claims data,

1 nationally represented claims data.

2 What was interesting is there's no
3 difference between major surgery and minor surgery,
4 so this is not just post-surgical pain. I studied
5 post-surgical pain. This cannot be explained by
6 post-surgical pain.

7 I wasn't particularly surprised because we
8 had published this paper the year prior, showing
9 that 4 percent of hip patients and 8 percent of the
10 knee replacement patients became new chronic users.
11 These were prospectively collected data as part of
12 an NIH-funded study. What was very interesting
13 here was that we used the gold-standard measure for
14 change in knee or hip pain, stiffness, and
15 function, and there's absolutely no association
16 between the change in that person's knee pain over
17 that 6-month period from pre-operatively to post-
18 operatively and that likelihood of continued use.
19 In other words, this is not surgical pain.

20 So I think that these things are important.
21 So what do we think is happening here? We think
22 that patients are taking these medications for

1 other chronic pain conditions for which their
2 primary care physician might appropriately not be
3 prescribing currently.

4 We think that some take it for sleep. Sleep
5 is a major unmet need after surgery right now. And
6 then we certainly also appreciate that there is
7 misuse and abuse. And misuse and abuse doesn't
8 simply mean getting high. We certainly think about
9 those anxious patients. We talked about what risk
10 factors are there, anxious patients sometimes
11 describing a leveling, that opioids level them,
12 they make them feel right, not necessarily making
13 them high.

14 Thirteen percent are hand surgeries;
15 13 percent is spine surgery; 4.8 percent of teens
16 and adolescents undergoing elective pediatric
17 surgery, and these data bother me. Ten percent of
18 curative cancer surgery. I think we have a
19 different prescribing pattern for cancer patients,
20 and then probably our most challenging population,
21 breast cancer patients, where there's
22 reconstruction and care after surgery, and we see

1 about a 19 percent rate.

2 I think, though, if you compared that
3 10 percent rate in that second-to-last line to the
4 first line, the surgical incisions are very
5 similar, but the rate is much higher likely because
6 patients are prescribed more because they have
7 cancer. Even though these are curative cancer
8 surgeries, the cancer is removed as a part of that
9 surgery. I also think people feel enabled to take
10 opioids because they have cancer.

11 The last is, we talk about morbidity
12 associated with post-surgical prescribing. We
13 looked at a national dataset of 70,000 kids and
14 young adults undergoing a third molar extraction,
15 wisdom tooth extraction, something that's done
16 3.5 million times per year in the U.S.

17 Eighty percent of kids in 2015 got an opioid
18 as a part of that care even though we have
19 randomized-controlled trial data to show that
20 there's no additional benefit of an opioid in lieu
21 of acetaminophen and ibuprofen in combination. And
22 just getting that prescription was associated with

1 a 2.7 times increased risk of becoming a new
2 chronic user after adjusting for demographics,
3 patient comorbidities, psychiatric diagnoses, pain
4 diagnoses, and the impaction status of the tooth.

5 So the actual exposure matters, and I think
6 that's an important part of today. What are we
7 talking about? Why does this matter? Just getting
8 the medication mattered, and we didn't see
9 overwhelming effect sizes or real strong
10 associations between the kind of medication or the
11 amount that they received. It was just the
12 exposure itself that was associated.

13 So I think the good part about being in this
14 space is that we really are in a place where we can
15 improve prescribing. And I'll take you back to
16 where we started, which was, a couple years ago
17 when we were trying to figure out what was
18 happening, we thought we needed to know what was
19 happening in our own house before we could go
20 around the state and the country talking about this
21 problem.

22 So we took a very common procedure,

1 laparoscopic gallbladder removal, and we looked at
2 prescribing. What we found is at the University of
3 Michigan, we prescribed on average about 50 pills.
4 Now, that's a shocking number, but I will tell you,
5 in the Truven data from 2017 all the way through
6 the first half of 2017, the national average is
7 still probably about 40 to 45 pills. So if you
8 think that this is historic data, this is still the
9 average after a lap chole probably today.

10 What we found is that when we looked at how
11 much people actually used, the median use was about
12 6 pills. So early in our effort, we knew that
13 75 percent of people were being satisfied with
14 15 pills, and going from 50 to 15 seemed like a
15 good start.

16 So we had a resident who did a voiceover
17 PowerPoint and everybody watched it. And sure
18 enough, in the next 370 patients, we dropped from
19 50 pills to 15 with a really tight standard
20 deviation.

21 We saw no differences in refill rate. We
22 saw no differences in self-reported pain. And at

1 the top there, you can see that the median use
2 dropped from 6 pills to 4 pills. Now, that may not
3 seem very big. That is only 2 pills. But let's
4 project that out now to a bigger surgical condition
5 like knee arthroplasty or spine surgery, the amount
6 we prescribe matters.

7 We've known this for a long time in the food
8 literature. This is a phenomenon called anchoring
9 and adjustment. And again, Dr. Bateman showed this
10 in women undergoing Cesarean section. The more
11 pills we give people, the more they're going to
12 take. We are conditioning people to take more
13 pills by giving them enough so they, quote, "won't
14 run out" and taught people that. I'm part of the
15 problem. If we think about the context of that,
16 35 pills less per patient over that 370 patients,
17 that's 13,000 pills not in the community, not
18 subject to abuse.

19 We're uniquely structured in the state of
20 Michigan. We have almost a single private payer in
21 the BlueCross BlueShield of Michigan. So between
22 Medicaid, Medicare, and BlueCross BlueShield, you

1 pretty much have the majority of insured patients
2 in our state.

3 They have, for more than a decade, been
4 funding a network of collaborative, and these are
5 just two of the collaboratives. There are mainly
6 surgical and acute care collaboratives, both a
7 general surgery collaborative and anesthesiology
8 collaborative, coming together 3 to 4 times a year
9 to talk about quality.

10 We went to Michigan Department of Health and
11 Human Services about 2 years ago, almost 3 years
12 ago now, and said let us take this unparalleled
13 platform to superimpose an opportunity to get real-
14 world patient consumption data and for
15 dissemination.

16 True to our goals, we have actually
17 populated -- we've created the first evidence-based
18 prescribing recommendations based on real-world
19 patient-reported outcome data from 33 hospitals in
20 our state, representing all the way from small
21 hospitals, to urban hospitals, to teaching
22 hospitals. And I've received some not-positive

1 feedback on social media, saying these numbers are
2 still too high. And I agree, but these represent
3 major reductions in prescribing as compared to
4 what's actually happening today.

5 Now, our model, however, is to make these
6 regs and then re-assess; are we reducing
7 prescribing. And I can say, in the state of
8 Michigan, we've just gotten a recent data dump
9 we've had pretty major reductions in prescribing in
10 our state, and we're also seeing reductions in
11 consumption.

12 So true to our goals, while monitoring
13 patient satisfaction and patient-reported outcomes,
14 we've actually updated these recommendations and
15 will soon be adding recommendations for knee
16 arthroplasty, hip arthroplasty, cardiac surgery,
17 and a couple other orthopedic surgery conditions,
18 as well as soon some pediatric surgery conditions.
19 The goal is to get about the 100 most common
20 surgical conditions to help guide care.

21 Now, how does that relate to today? Well,
22 that's great. Going from a lot to less is an

1 important outcome. That's going to be important.
2 But I really think one of the areas where we really
3 need to move is elimination of that exposure when
4 it's not necessary.

5 In the last session, you heard that there
6 are many people that after 48 to 72 hours, don't
7 need opioids, and that's true. We've actually seen
8 that in our own data, both through some quality
9 improvement work as well as some prospectively
10 collected data. Really honestly, with a little bit
11 of education, most patients don't need pills after
12 48 to 72 hours.

13 So I do believe that there are
14 opportunities, and it would be a clinically
15 important outcome to eliminate prescribing or
16 eliminate exposure where possible, because time
17 after time, we have seen a weak effect associated
18 with the amount prescribed, but really honestly,
19 the exposure is probably the most important piece.

20 When opioids are necessary, we believe we
21 can reduce them. We do believe that new persistent
22 use is an outcome that can be virtually eliminated.

1 We think that this is an opportunity with education
2 both for patients, and for surgeons, and for
3 primary care physicians, we can eliminate this.
4 But we can do this in a patient-centered way. I
5 certainly hear from the patient advocacy groups
6 that I'm not interested in pain, or I don't care
7 about pain, or I don't care about functional
8 recovery.

9 I care a lot, but what we see consistently
10 is as we reduce and as we change our prescribing,
11 we can still manage pain appropriately and enable
12 functional recovery.

13 For those times where we do have to
14 prescribe, I do believe education is key. This
15 idea that I'm going to make you pain free is not
16 really the best goal. We want people to
17 functionally restore. Giving somebody the
18 expectation that they're going to be pain free is
19 probably setting up the wrong expectation and
20 probably leading to increased opiate consumption.

21 I think education also is required for our
22 surgical population. Primary care physicians have

1 received a lot of education in the last few years
2 because of the CDC guidelines. We need to do the
3 same for our acute care providers.

4 We need to encourage simple things.
5 Acetaminophens and non-steroidals have a powerful
6 role and very low morbidity in most cases. I
7 believe that there are important opportunities with
8 local anesthetics, and I think there are other -
9 non-opioid, non-medication things like behavioral
10 care that could be very important.

11 We need to avoid prescribing benzodiazepines
12 together with opioids, and we really encourage
13 prescription drug monitoring programs. For the
14 cases where opioids are prescribed -- and I think
15 this to be true for the trials as well -- we think
16 one short-acting pill is the right way. We see
17 many times where surgeons prescribe multiple. We
18 don't believe in long-acting opioids in people who
19 are otherwise opioid naïve.

20 We don't believe that pre-operative
21 prescribing is appropriate, giving a prescription
22 to a person before their surgery. We see this as

1 independently associated with poorer outcomes,
2 probably because people are starting those opioids
3 before surgery and becoming tolerant. And we
4 believe naloxone has a role.

5 So again, I am moved by the stories from
6 STAT news, and these are the stories that I hear
7 every day when I travel around from parents, from
8 family members, and maybe from people on the panel
9 today.

10 So how do we stop this from happening? I
11 believe if we get data, and we share data, and we
12 get different types of data, I think this is an
13 opportunity for the public and private sector to
14 partner.

15 I think we need to reward change. One of
16 the areas where I do believe our program is novel
17 is that BlueCross BlueShield of Michigan has been
18 very thoughtful in creating payment incentives
19 around changes in prescribing, both pay-for-
20 performance measures for hospital systems but
21 ProFee modifiers for surgeons, for protocols that
22 we've come up with. We have a new modifier 22

1 program that's been rolled out in our state that
2 allows surgeons to increase their professional fee
3 if they follow guidelines that are not just about
4 opioid reduction. They're also about education and
5 good opioid stewardship.

6 We've got to collaborate. I really do think
7 that this is great to see forums like this come
8 together. I really become concerned when anyone
9 tries to own or say that they fixed this problem
10 because this is a very complex and very messy
11 problem, and it requires all hands on decks.

12 These are my collaborators. Mike Engelsbe
13 is a transplant surgeon. Jen Waljee is a hand
14 surgeon, two of the most effective people I know.
15 We're a couple years old, but we've been cranking
16 hard, and they are an incredible team. With that,
17 I would encourage you, if you want to learn any
18 more work, find our prescribing recommendations,
19 you can go to Michigan-Open.org.

20 I did not discuss it today just because of
21 time, but we do have a Precision Health initiative.
22 We have 60,000 patients that have been enrolled in

1 an opt-in bio repository that have all been
2 genotyped, and we are looking at the genetic
3 associations between that exposure around the time
4 of surgery and new persistent use. Thank you.

5 **Clarifying Questions**

6 DR. BATEMAN: Are there clarifying questions
7 for Dr. Brummett? Please remember to state your
8 name for the record before you speak. If you can,
9 please direct your questions to Dr. Brummett.

10 Dr. Rosenberg?

11 DR. ROSENBERG: Dr. Brummett, do you ascribe
12 much of the effect on the state of Michigan to the
13 change, which is pretty drastic opiate laws versus
14 the initiative?

15 DR. BRUMMETT: Yes. So the question about
16 whether the opioid laws are driving this, we have
17 data that are not published yet, but we haven't
18 seen any effect in the 7 states that we have looked
19 at between these 5- and 7-day limits and any change
20 in prescribing.

21 So actually, no. All of our data right now
22 are pre-legislation, because I would look at it

1 this way. What is 7 days' prescribing? If you
2 have 2 pills every 4 hours as needed for pain, that
3 could be 84 pills over 7 days. If you go back to
4 our prescribing recommendations, we have nothing
5 that comes close or even bumps up on 84 pills. I
6 think our biggest recommendation to date that will
7 come out soon is probably 50 pills for a knee
8 arthroplasty, which I believe is still too high,
9 but the current standard in the community right now
10 is probably more like 100.

11 So I appreciate that everybody's convinced
12 that policy of just 5- and 7-day limits, or 3-day
13 limits, or 1-day limits, I don't believe this is a
14 patient-centered approach. I believe that there
15 are ways to get people to decrease their
16 prescribing through education and evidence. And I
17 know that that's maybe slower, and I wish we had
18 done what we're doing now 10 years ago.

19 But no, we don't believe that this is
20 because of policy, and I've been intimately
21 involved in that policy both change at the local
22 University of Michigan level but also working with

1 our licensing board as they tried to roll out and
2 understand that policy.

3 I think that there is a healthy mix of
4 practice and policy, but I don't think the 5- or
5 7-day limits are going to change surgical
6 prescribing at all, not in the same way that
7 prescribing recommendations will.

8 DR. BATEMAN: Dr. Michna?

9 DR. MICHNA: I was wondering if we had any
10 data on the persistent use on the incidence of
11 clinically significant psychiatric comorbidities
12 and what role that has, and other risk factors.
13 And to that point, what you think about the role of
14 pre-screening before surgery of all patients for
15 risk and applying an education both to the patient
16 at that point and to the physician in getting
17 patients off opioids as soon as possible.

18 DR. BRUMMETT: Thank you. It's a complex
19 question because there are actually a lot of things
20 packed in there, so I'll unpack them if you don't
21 mind.

22 With respect to psychiatric comorbidities, I

1 think probably the most commonly described are
2 anxiety and depression. And I would say we talked
3 a lot about catastrophizing. Catastrophizing has
4 overwhelming overlap with depression. We're
5 talking about a similar phenomenon. It is
6 popularized because it's got a better name. But I
7 think these factors are associated.

8 We haven't seen overwhelming associations in
9 claims data. I think claims data unfortunately
10 aren't the right way to do this because you have to
11 see the right doctor, you have to have seen them
12 recently, get the diagnosis.

13 We do see anxiety in prospectively collected
14 data as being independently associated with
15 increased opioid consumption. Now, what I don't
16 want that message to be is, oh, anxious patients
17 use more opioids; I should give more opioids. What
18 it suggests is that we're not giving them the right
19 medications. This is an opportunity for behavioral
20 care, or there are multimodal medications that
21 address anxiety.

22 The question of risk tools today, while

1 we're doing work in genetics now and I'm excited
2 about that work, I think there are some simple
3 things we can do today that surgeons don't do,
4 which is to ask about previous substance use
5 disorder. We don't ask. Do you personally have a
6 history of opioid-use disorder or heroin use? If
7 you ask that question -- or does a family member;
8 have you ever had another substance use disorder?

9 Those are important questions. Certainly,
10 untreated severe anxiety and depression are ways
11 forward. And then I think the other places where
12 we don't measure well and we have to measure better
13 is in social support. It's a hard construct, and
14 physicians and researchers are not very good at
15 this, but I believe they're important pieces.

16 Thank you.

17 DR. BATEMAN: Dr. Goudra?

18 DR. GOUDRA: Hi. Dr. Goudra from Penn
19 Medicine. It's good to know that patient
20 satisfaction isn't affected by decreased
21 prescribing, but I also see that it's a national
22 trend that opioid prescription is going down, but

1 we also know that the number of deaths are also
2 going up.

3 Do you have any explanation for that?

4 DR. BRUMMETT: Yes. It's a great question.
5 Thank you. We do see an increase, a spike in
6 mortality, that's largely driven by fentanyl and
7 heroin. And I would say that if we look at that,
8 the average person who's on fentanyl or heroin is
9 on the far-right end of the curve, and I think we
10 can expect that severe mortality to continue.

11 However, I will come back and show the data
12 that was published in the New England Journal of
13 Medicine, showing that 75 percent of people who
14 move down the path of heroin start with a
15 prescription pill.

16 So they're starting with medications,
17 opioids that are prescribed, maybe not directly to
18 them, but to friends or family members. We know
19 that among kids 12 and older who admitted to
20 misusing or abusing an opioid medication the year
21 prior, 55 percent of them got them from a friend or
22 family member; 17 percent of them had been left

1 over from their own care; and only 4 percent
2 mentioned a drug dealer as part of that problem.

3 These are easy to access. I asked juniors
4 and seniors from a local high school, how many of
5 you could go home and within an hour access an
6 opioid? 75 percent of the kids, of these 100 kids,
7 immediately raised their hand. I don't believe
8 that means 75 percent of the kids are abusing their
9 medications, but I think that they processed it.

10 So we need a parallel narrative that is
11 about getting rid of our 30 years of
12 overprescribing because they're sitting in medicine
13 cabinets. In our opioid drives we see -- we do
14 statewide opioid drives. We see medications that
15 date back to the '70s.

16 So I think prescribing still matters, and I
17 think it's part of the healthy narrative. It is
18 not the full narrative today, and certainly there
19 are many important things going on at SAMHSA, NIH,
20 FDA, CDC around naloxone and medication-assisted
21 treatment, and I think those should continue.

22 DR. BATEMAN: Dr. McCann?

1 DR. McCANN: Thank you. That was a great
2 presentation. Mary Ellen McCann from Boston. You
3 mentioned that there's evidence for a single
4 exposure post-operatively, sort of sensitizing
5 patients.

6 What about interoperatively? Is there any
7 evidence? As an anesthesiologist, that would be
8 really, really hard to change that practice,
9 although in pediatrics, we do a lot of regional
10 blocks.

11 DR. BRUMMETT: I think there is a hashtag on
12 social media, #opioidfreeanesthesia. It is a
13 hashtag without evidence. So I would say that it's
14 interesting. When I talk to anesthesiologists, I
15 encourage good opioid stewardship. However, I
16 don't believe that there is any strong evidence to
17 suggest that that sensitization goes beyond maybe
18 the PACU.

19 So there are data to suggest that patients
20 exposed to remifentanil or high doses of fentanyl,
21 interoperatively when compared to saline, are maybe
22 hyperalgesic. But that hyperalgesia appears to be

1 very short lived, and we don't know yet is that
2 sensitizing or putting people down the pathway.

3 I could get deeper and sort of say if we
4 think about reward pathways, if you give people
5 fentanyl under general anesthesia, are we actually
6 activating reward pathways? We don't know that.
7 Ketamine's effect on the brain depends on your
8 state of consciousness, and so we don't know this
9 yet with opioids. It's certainly an area that our
10 group is interested in studying. It is a complex
11 question, and the data sources are not simple to
12 get because intraoperative data aren't readily
13 available in claims data, so we are trying with the
14 multicentered perioperative outcomes group to
15 answer this question.

16 DR. McCANN: A different question is we're
17 working very hard in our pre-operative clinic to
18 manage expectations, and we actively tell parents
19 your child is not going to be pain free, but we
20 don't want him to be miserable.

21 Have you come up with any guidelines to
22 guide us to help our patients, or is that something

1 you're working on?

2 DR. BRUMMETT: On the michigan-open.org
3 website, we actually have patient materials. I
4 don't know that we've done a great job of tailoring
5 them to pediatrics, but we've got patient materials
6 for surgery, dentistry, and oral surgery, emergency
7 medicine, and there's a primary care one coming
8 out.

9 They're about acute care exposure, and
10 they're there to educate patients about safe use,
11 safe storage, and safe disposal with a box there
12 for them to take some notes as they have that
13 conversation, and we hope that these prompt
14 education.

15 We've just done this through word of mouth.
16 We have about 100 health systems in 20 states using
17 these materials. They're colorful and engaging and
18 hopefully are better than 8-and-a-half-by-11
19 printed paper that ends up in printouts, because
20 you are right; setting expectations and educating
21 patients is critical.

22 DR. McCANN: Finally -- sorry I'm sort of

1 hogging it here -- it struck me during your
2 presentation that if you could send patients out
3 the door with 6 pills or 4 pills because you figure
4 they need 18 to 24 hours' worth of opioid pain
5 management, that that would be patient centered as
6 well as would eliminate a lot of patients ever
7 hitting a Walmart or CVS and getting their 30 pills
8 or whatever the surgeon is prescribing. And it
9 would be kind to the surgeons, too.

10 DR. BRUMMETT: We are doing that now as part
11 of our care pathway. We have done this now with
12 about 75 patients who got 5 pills for things like
13 laparoscopic gall bladder removal, thyroid surgery,
14 sort of minor surgeries, but still that require
15 opioids. And when you give people 5 pills, they
16 use about 1.3. When you give them 30 pills, they
17 use about 13.

18 DR. BATEMAN: Dr. Litman?

19 DR. LITMAN: Thanks. So many really
20 interesting things to talk about, but I wanted to
21 get your take on another influence of this that I
22 didn't hear you speak about.

1 We just completed an analysis of opioid
2 prescribing after surgery in children over the last
3 5 years, and even though it decreased, there were
4 some other external factors that influenced it so
5 much.

6 For example, in Philadelphia, we're on the
7 cusp of New Jersey and Pennsylvania, and state laws
8 differ as far as opioid prescribing, and insurance
9 companies' policies differ. And the surgeons would
10 go by that.

11 Not only that, but when I talk to my
12 surgeons about what influences them, there are two
13 main things. One is the hassle factor. They don't
14 want to get called for more prescriptions after a
15 certain number of days because they can't just
16 easily call it in over the phone. That means the
17 parent obviously has to bring the child to the
18 closest emergency room if they're still in pain or
19 the doctor's office. They have to go pick up the
20 physical prescription.

21 The calls come in, and, of course, at least
22 in an academic practice, the calls come to the

1 residents first. My ENT service would probably be
2 the most active. There's a limited amount of
3 resources. So a lot of it is practical.

4 The second thing is just simple computers,
5 Epic. Our surgical attendings don't write the
6 prescriptions. They say to the resident, "Give
7 this kid the discharge instructions. Here's what
8 you're going to write for afterwards" whatever,
9 oxycodone or Vicodin. And when the Epic screen
10 comes up to print the prescription, it's got
11 suggested doses and suggested durations. And if
12 you're a surgical resident, you're not going to
13 really think about it; you're just going to click.

14 So those kinds of things really are, at
15 least in our experience, really the main drivers,
16 so much more than actual pain.

17 DR. BRUMMETT: Thank you, and you're right,
18 and thanks for your work. We've done some work in
19 pediatrics. Actually, Terri Voepel-Lewis, who will
20 be talking later, has probably done more work in
21 the pediatric space, but I'll say this. Again,
22 I'll reiterate the fact that there's no association

1 between the number of pills prescribed and the
2 likelihood of refill, and we know that that's
3 driving it.

4 I will remind people that Atul Gawande wrote
5 a really thoughtful piece in the Annals of Surgery
6 suggesting that ePrescribe should be a national
7 standard. We really have to leverage. Many people
8 who have Epic don't ePrescribe because they haven't
9 learned how. Let's just be blunt. They haven't
10 learned how. They could ePrescribe those
11 medications, the patients don't have to come back,
12 but they have to learn how to do that. And that's
13 an education piece. That's an unmet opportunity.

14 With respect to the suggestion, we are
15 working on decision-support tools in Epic that I
16 think need to be more thoughtful than just an order
17 set. If you have to have just an order set, and if
18 everybody has to know where that order set is, I
19 don't know that that's the right way forward. But
20 I do think at least an easy start is pulling
21 together the order sets. And we've done that at
22 Michigan, and it's been positive.

1 If you pre-populate 15 pills or 5 pills for
2 a surgery resident, it'll go better. We have data
3 that are coming out showing that academic medical
4 centers prescribe about 20 percent more than
5 non-academic centers, probably because residents
6 drive the prescribing, and advanced practice
7 practitioners, PAs and NPs, prescribe about
8 15 percent more than their physician peers.

9 Why? Who's handling the phone calls? So we
10 know that there is a disconnection. Many surgeons
11 and many practices don't actually know how many
12 pills they prescribe, not because they don't care,
13 not because they're bad people, but because it's
14 just not part of the current care pathway. Yet, I
15 believe that every surgeon needs to feel a
16 responsibility for how much or what they prescribe
17 going forward.

18 DR. BATEMAN: Mr. O'Brien?

19 MR. O'BRIEN: Yes. Joe O'Brien. Thank you
20 very much, and very interesting. Does your data
21 include adult spinal deformity patients? I'd be
22 very interested in that because as you gave your

1 story, in real-life world, there's the rest of the
2 story.

3 There is the rest of the story where you
4 have a large patient population who is in pain,
5 real pain for them, both in terms of the acute
6 post-operative pain and in chronic pain for a
7 longer period of time, with 30 percent complication
8 rates, comorbidities, et cetera or they're playing
9 it, that have very often explained that they feel
10 tortured in certain states and certain areas; that
11 they did not receive the amount of medication to
12 manage their pain within the post-operative
13 environment; that they felt abandoned when they
14 left the hospital in terms of getting the amount of
15 medication that was necessary.

16 They felt hassled in terms of now being the
17 demon for something that they didn't ask for. They
18 weren't going in asking for it. This is a result
19 of something that they needed. So they feel
20 hassled in terms of that. They feel demonized in
21 terms of that.

22 So in essence, you have a large

1 part -- you'll not start everybody for sure, but
2 you have a story where you have many patients that
3 feel that they have become the problem, where they
4 didn't ask for the problem to begin with. So
5 they're looking out and saying, "Hey. Stop blaming
6 me. Get a better medication."

7 So in the end, the sense is that you're very
8 concerned about mistreating the medication that I'm
9 given when the bottom line is they're being
10 mistreated. That's the bottom line.

11 Do you get that?

12 DR. BRUMMETT: Thank you, and thanks for the
13 opportunity to clarify because I love the
14 opportunity to say a few things. Number one, I
15 care about patients, and I am a pain physician. I
16 came into pain medicine because I care about
17 people. And I believe in patient-centered
18 approaches to post-operative pain management,
19 thinking about the full gamut.

20 I also believe opioids have a role. I
21 manage patients with opioids, both in the acute
22 world and the chronic world. It is probably not as

1 much as you would see in many practices. I'm very
2 tight with how I manage my opioids in a way that's
3 patient centered.

4 What I would say with respect to what I've
5 shown today; I primarily am talking about people
6 not using opioids before surgery because this has
7 really not been a part of our conversation to date.
8 We focused a lot on the chronic opioid user, and
9 right now, the management of the chronic opioid
10 user after surgery is best opinion medicine.
11 That's the level of evidence we're working with in
12 terms of how to best manage that person using
13 opioids beforehand. It's an important category,
14 and it's a category that needs to be filled,
15 desperately needs to be filled.

16 I am in complete agreement that we need
17 better medications for chronic pain, and I do know
18 that there are people out there where physicians
19 are misinterpreting the CDC guidelines, mismanaging
20 patients after surgery, and I think this is the
21 point of evidence-based regs, and these are
22 recommendations.

1 I think the point is we're trying to give
2 people a sense of where to be because we know we've
3 vastly overprescribed for a long time, and we can
4 prescribe a lot less. And that doesn't mean they
5 can't get a refill. In fact, they will get a
6 refill in many cases.

7 So while we hear those stories, and I agree
8 there are two ends of a story, our group certainly
9 isn't against opioid management after surgery,
10 especially in complex cases, nor did we start with
11 your complex cases. Why? Because there are
12 millions, tens of millions of easier cases where
13 we're grossly mismanaging patients in the country,
14 and there's a lot of opportunity.

15 We are now doing work with spine surgeons.
16 We are now doing work in knee and hip replacement.
17 These are the more complex cases; shoulder surgery,
18 painful surgeries where I think opioids have a
19 role. But I'll push back a little and say that I
20 don't know that after 7 days, being on an opioid
21 because you have chronic post-surgical pain is the
22 best choice in most cases. I would actually argue

1 it's not the best choice in most cases, and that's
2 where I'll push back. And I think it's probably an
3 area where we just won't agree.

4 MR. O'BRIEN: It's not agree. Now I can
5 speak from a personal experience that, clearly, all
6 the education in the world -- I'm very well
7 educated on the situation, and I've gone through it
8 6 times, so it's not my first rodeo. So I've gone
9 through it, and fine.

10 But pain is pain, and that's real, and we
11 have to get around that. So in certain
12 cases -- and this is patient specific -- you can
13 have all the education in the world, but when
14 you're in pain, you need something for that pain.
15 And if you don't get it, that creates a problem.
16 We knew that from before. We were undermedicating
17 in previous lifetimes. So that is a real thing.

18 The concern I have now is also -- and we
19 touched upon it a little bit and we discuss it with
20 every panel meeting here -- there are always
21 unintended consequences. So from an academic
22 standpoint, you do something, it looks good, it's a

1 guideline. But there is a lot of misunderstanding.

2 I'm afraid that we're going to be back here
3 for overdosing on gabapentin. I think there's
4 overutilization of gabapentin as sort of a way.
5 There's a misunderstanding with that. There's a
6 shifting to long-acting. Why? Because of the
7 number of pills. It gets under the radar, and you
8 shift. You can get more MMEs if you shift a pill,
9 so it's creating an unintended consequence that's
10 going to create a problem. And we're going to be
11 back here discussing in a different panel for a
12 different problem.

13 So I get very worried in terms of the
14 discussion only at an academic level. I think it's
15 very important, and I praise you for looking at a
16 real-world situation and making sure that in
17 complex and simple procedures, that we do really
18 have the opportunity for education at the
19 prescriber level and allow that to be very patient
20 centered.

21 We've gone through the last decade of
22 talking a lot about patient centered, patient

1 centered, patient centered. We seem to have gone
2 away with it on this topic. We're now more topic
3 centered and not so much patient centered, so I
4 don't want to lose sight of that. I think you have
5 a lot of group of patients that are not feeling
6 well served. Thank you very much.

7 DR. BRUMMETT: Thank you for the comment.
8 And I appreciate you noting that ours is a
9 patient-centered approach because that is what we
10 believe in. Thanks.

11 DR. BATEMAN: Okay. Any other clarifying
12 questions?

13 (No response.)

14 DR. BATEMAN: Well, in that case, we will
15 now take a 15-minute break. Panel members, please
16 remember there should be no discussion of the
17 meeting topic during the break amongst yourselves
18 or with any members of the audience. We will
19 resume at 10:25.

20 (Whereupon, at 10:04, a recess was taken.)

21 DR. BATEMAN: We will now continue with
22 guest speaker presentations. Our next speaker is

1 Dr. Voepel-Lewis, also from the University of
2 Michigan.

3 **Guest Presentation - Terri Voepel-Lewis**

4 DR. VOEPEL-LEWIS: I'm Terri Voepel-Lewis,
5 and I'm a nurse researcher, and I'm here to
6 represent the pediatric data, particularly related
7 to the transition from legitimate or medical opioid
8 use to adverse outcomes, including persistent use,
9 misuse, and abuse.

10 I want to thank the committee for having me.
11 I've spent the last 25 years of my career studying
12 and trying to help others better manage pain in a
13 way that's safe and more effective, so I'm really
14 honored to be here to represent my team in this
15 data.

16 I have no conflicts of interest, but I would
17 like to acknowledge the funding that I have from
18 the National Institute on Drug Abuse that funds my
19 work related to educating parents, adolescents, and
20 young adults on the risks of opioid pain
21 management.

22 I also want to acknowledge my colleagues at

1 the University of Michigan, my teams at both the
2 School of Nursing and the Department of
3 Anesthesiology. I will be representing a lot of
4 their work today on prescription opioid misuse and
5 pain management, as well as risk understanding.
6 The work of my colleagues has largely informed my
7 understanding of this topic and also the work that
8 I'll present today.

9 Lastly, I want to thank the earlier speakers
10 for allowing me a little extra time because I may
11 go a little rogue at the end of my talk today
12 because I want to talk a little bit about
13 measurement that I did not include here in my
14 slides.

15 My goal today is to walk you through the
16 trajectory after a prescription pain medicine use
17 in childhood to the negative trajectories of, in
18 particular, opioid misuse and abuse. As the
19 earlier panelists talked about, there are other
20 short-term adverse events that I have also been
21 interested in my work, but today I'm going to spend
22 my time talking about these adverse trajectories.

1 The other thing that I'll do is summarize
2 for you the risk factors or the known risk factors
3 that may heighten the risk for the adverse
4 experience of misuse and abuse. And then lastly,
5 I'm going to end with talking about at least my
6 perspective on some of the targets that we could
7 have to reduce the risk of prescription opioid use
8 in children.

9 Some of the panelists have already talked
10 about some of the trajectories. It sounds like
11 what most of us would agree on is the expected or
12 the hoped-for trajectory after prescription use is
13 adherent short-term use, particularly after an
14 acute pain insult such as surgery or trauma. That
15 would be our goal for patients, is to use an opioid
16 effectively and use it for the short term.

17 I would argue that sometimes persistent or
18 recurrent use may be acceptable, particularly in
19 cases of larger procedures or trauma, and I'll talk
20 about some of those risk factors later. But in
21 general, it sounds like what we're agreeing on is
22 that persistent opioid use is also perceived to be

1 a negative trajectory after prescription opioids.

2 Finally, the topic that I'm here to talk
3 about is misuse. There are several operational
4 definitions of opioid misuse in the literature.
5 There's what I would call non-adherent use or the
6 misuse of one's own prescription where a patient,
7 an adolescent, or a young adult takes a drug in a
8 way that's unintended.

9 There's also misuse of others'
10 prescriptions. And I think Dr. Brummett talked a
11 little bit about this, the diversion that's in our
12 communities, an adolescent or young adult taking
13 someone else's medication. That has been referred
14 to in the literature as non-medical use.

15 Lastly, there's abuse, which has been
16 described or defined, at least by NIDA, or the
17 National Institution of Drug Addiction, as the use
18 of a prescribed medication for a psychotropic
19 effect. It is really hard from the literature that
20 we have to disentangle some of these operational
21 definitions, so for this talk, I'm going to be
22 talking about misuse in general, and then I'll be

1 more specific wherever the data allow me to be more
2 specific.

3 The final adverse trajectory after opioid
4 use are these adverse events, and there are adverse
5 events that have been talked about earlier,
6 constipation, nausea, those things that we know
7 about, but in particular, the adverse event that
8 we're most disturbed about is overdose, dependence,
9 and addiction.

10 The path from prescribed use to these
11 adverse outcomes remains a little bit unclear. We
12 have some data in adults to suggest that persistent
13 opioid use may lead to dependence and addiction.
14 It probably does in adolescents. We don't have a
15 lot of that data for adolescents and young adults.

16 We also know -- and I'll talk about these
17 data -- how misuse of opioid analgesics can lead to
18 dependence and addiction. Those data are really
19 sparse, they're very limited, and I'm going to talk
20 about those as well.

21 This very busy slide is meant to just give
22 you a gist of where we get the data on misuse. All

1 of the data on opioid misuse comes from self-report
2 and the main surveys that have been used to elicit
3 misuse in adolescents and young adults.

4 In general, like the Monitoring the Future
5 data, which has been asking this question since the
6 1970s, Add Health, which is the Adolescent to Adult
7 Health Longitudinal Survey, and the Secondary
8 Student Life Survey; these kinds of surveys had
9 asked broad questions to try to get at prescription
10 opioid misuse in kids. You can see, like the
11 Monitoring the Future question is broad: take
12 narcotics on one's own without a doctor telling you
13 to do so.

14 A couple of these surveys have tried to get
15 more specific to break down whether or not an
16 adolescent or a person is using their own drug in a
17 way that wasn't intended versus using someone
18 else's, and that breakdown in question was really
19 meant to try to differentiate what is perceived to
20 be a riskier misuse of a medication, using someone
21 else's versus one's own.

22 Again, as I walk through the data, I'm going

1 to refer to these surveys, and I'll talk about the
2 limitations in our understanding based on these
3 questions.

4 The other data that I'm going to talk about
5 is this move to persistent opioid use and also
6 persistent pain. As Dr. Brummett mentioned, the
7 persistent opioid-use data has been derived not
8 from self-report, but from large administrative
9 databases most primarily, and these are private
10 insurer database.

11 The definition "persistent opioid use" has
12 been used differently by different researchers, and
13 I would argue that it may be a misnomer because
14 people have used it to indicate someone got a
15 refill for an opioid between a period when you
16 wouldn't expect someone to get a refill, usually
17 generally more than 90 days after a procedure that
18 you would expect pain to be resolved.

19 Persistent pain in much the same way, that
20 data that we have has been derived from self-
21 report, and again, it's self-reported pain that is
22 outside a period that we would expect the pain to

1 have been resolved. And that could be 3 months,
2 6 months, 12 months after a surgical procedure or
3 after a traumatic insult.

4 So these definitions of persistent, I'll use
5 that term "persistent" but we need to take it
6 within context. It could be recurrent, could be
7 new pain, could be new use, and that. So that
8 limits our understanding of what "persistent"
9 means.

10 What do we know about prescribing in
11 adolescents? The best, most recent data that we
12 have tell us that more than 2 million prescriptions
13 are dispensed to kids under the age of 18 years
14 annually. These rates are going down to some
15 degree, but still leave a lot of kids exposed to
16 opioid analgesics. And you can see from this
17 slide, the second line down is the prescription
18 rate to adolescents, which we consider to be a
19 slightly higher risk group, and adolescents far and
20 away receive the majority of these opioid
21 prescriptions.

22 This slide summarizes some of the Monitoring

1 the Future data on self-reported medical use or
2 prescribed use and misuse. And as you can see from
3 this slide, medical use self-reported has been
4 going down over the last few years. But what's
5 interesting to me about this is that it looks like,
6 from these data, that misuse sort of parallels or
7 aligns very closely with prescribed use. So the
8 more prescriptions we have dispensed or that kids
9 receive, the more likely they are to misuse or at
10 least there's an association there.

11 There's a lot of variability in the
12 prevalence rates of misuse across misuse, and I've
13 just given just a little indication here that from
14 Monitoring the Future, to the National Substance
15 Use Database, to Secondary Student Life, there's
16 variability. And this is based on the time of
17 reporting, the way the questions are asked. But in
18 general, about 1 in 10 kids by the age of 12th
19 grade will admit to misusing a prescribed opioid.
20 And when you get to the young adult population, it
21 goes up in some surveys to about 1 in 20, or goes
22 down based on the time frame asked.

1 Our colleagues at the University of Michigan
2 have tried to dissect patterns of misuse in
3 adolescents. What this slide, this again very busy
4 slide, shows from the Monitoring the Future data,
5 since 1976 until it ends at the far right in 2015,
6 is that the majority of people who are exposed to
7 prescription opioids do not admit to any misuse.
8 But the data that tries to break down misuse has
9 shown that the majority of kids who say that
10 they've misused an opioid, and that's take it in a
11 way that wasn't intended, take too much, take it
12 more frequently, use it in a way that it wasn't
13 intended, they did so after medical use. So they
14 were prescribed a drug and then later misused the
15 drug. Far fewer kids will report having tried the
16 drug before they got a prescription for it.

17 The data from the Secondary Student Life
18 Survey, which is a longitudinal survey of kids from
19 junior high through 12th grade, my colleagues used
20 this data to try to get at the trajectory from one
21 year to the next in the pattern of misuse.

22 What this slide shows is that about 1 in 5

1 kids admitted to getting a medical prescription for
2 an opioid by 12th grade. Once the prescription was
3 given in year 1 of the survey, which was prior to
4 12th grade, about 81 percent of kids reported that
5 they never misused an opioid. 1 in 5 kids who got
6 an opioid prescription claimed to have misused
7 their own medication, and a much smaller
8 percentage, about 5 percent, reported misusing
9 someone else's opioid prescription.

10 Then by year 2, that adherent group, the
11 kids who got the prescription, used it in its
12 appropriate way the first year and about a third of
13 them reported getting a prescription the second
14 year. We don't know if that's persistent use,
15 recurrent use, new use. We have no idea, but they
16 reported getting a new prescription.

17 Then about 15 percent of those adherent kids
18 from the first year went on to misuse an opioid the
19 second year. And you can see that the 19 percent
20 who misused their own prescription the first year,
21 about 15 percent of those kids went on to misuse
22 others' prescriptions the second year. Then

1 finally, the kids who misused others, about a
2 quarter of those continued to misuse others by
3 year 2.

4 There have been a couple of different
5 studies that have looked at the trajectory or the
6 pattern of misuse in young adults, and these kids
7 are mostly college-aged kids up to the age of about
8 23.

9 The top study used cross-sectional data from
10 a large college life sample. and the bottom data
11 used the Monitoring the Future longitudinal data.
12 Both of these studies suggested that about 12
13 percent of college students claimed to have misused
14 an opioid on more than 1 occasion, or more than or
15 equal to one occasion.

16 You can see that the majority, a small
17 majority, misused only on 1 or 2 occasions on the
18 top data, whereas 1 in 5 misused on more than 1
19 occasion. And I think we would all agree that the
20 more frequent the misuse, the more risky.

21 The bottom data suggests that about
22 two-thirds reported misuse during one wave of a

1 survey only, that is a 1-year time frame, whereas
2 about 11 percent reported misuse more frequently
3 and chronically over several years. Again, we
4 would I think agree that this 11 percent represents
5 a high-risk group.

6 Dr. Miech from the University of Michigan
7 did probably the most elaborate study to try to get
8 at how does a prescription drug itself, when
9 controlled for a lot of other risk factors, lead to
10 the risk of misuse. What Dr. Miech did is he took
11 the Monitoring the Future question, which again was
12 that broad question, have you ever misused or the
13 use of a prescription opiate on your own without a
14 doctor's permission, but he tied it to a motive, to
15 get high, to relax, or for some other reason. The
16 reason that he did that was to try to get at abuse.

17 So these data apply to a small subset of
18 kids who misuse their prescription for the purpose
19 of getting high. We cannot extrapolate from these
20 data to kids who misused for self-management, self-
21 medication, and the treatment or self-treatment of
22 symptoms.

1 What Dr. Miech did was he risk-stratified
2 his group of students from 12th grade to 23 years
3 of age, and he took out and tried to control for
4 confounding of substance use the child's grades,
5 the parents' education, and all the factors that
6 create high-risk situations for misuse and abuse.

7 When he did that, he was able to identify
8 that the prescription itself was a risk factor for
9 misuse during young adulthood. It increased the
10 risk by 2 to 3 times in these lower-risk groups of
11 kids.

12 Dr. Miech also in that study was able to
13 show a dose response from how frequently a kid
14 misused an opioid, or in his definition abused the
15 opioid, by 12th grade, and then later misuse or
16 abuse during young adulthood. And as you can see
17 here, the higher the number of occasions of misuse,
18 the higher the number of occasions of misuse later
19 in young adulthood.

20 Another cross-sectional sample of college
21 students suggested -- and again this is self-
22 reported data from a smaller study -- that self-

1 reported lifetime medical use, that is, prescribed
2 use, was associated with twice the risk of misuse.
3 And this study didn't control for as many factors,
4 but did adjust for personal factors, the year of
5 the survey, and for some reason, diversion as well.

6 The Monitoring the Future data has also been
7 evaluated over time to examine how medical use by
8 12th grade or misuse by 12th grade led to misuse
9 and abuse later in life at age 35. Monitoring the
10 Future does these surveys every 5 years, so one of
11 the things that I would note about this data is
12 there is a 46 percent loss to follow-up. And I'll
13 talk about limitations of the data later.

14 This study showed that medical use increased
15 the odds of later misuse almost double that rate,
16 whereas kids who misused by 12th grade had triple
17 times the risk or 3 times the risk of later misuse
18 during mid-adulthood.

19 So again, it's hard to unentangle the data
20 on opioid misuse to abuse and dependence. But
21 these same researchers have used the Monitoring the
22 Future data to examine how does the pattern of

1 misuse relate to abuse of other drugs, other
2 prescribed drugs as well as illicit drugs.

3 What our team found was that the kids who
4 used medically before they misused had higher
5 risks -- these are cross-sectional data, so higher
6 odds of reporting other illicit drug use at the
7 same time they reported misuse and also misusing
8 other prescribed medications. The group who
9 misused or tried an opioid before they were
10 medically prescribed were at higher, much, much
11 higher risk of reporting those same negative
12 associations.

13 The other data -- and Dr. Brummett alluded
14 to these a little bit -- that we have try to help
15 us understand how prescription opioids themselves
16 relate to abuse or addiction and dependence come
17 from mostly retrospective data. These are data
18 that have taken samples that report, or meet the
19 diagnosis, or are in treatment for dependence and
20 addiction, and then they recall what their first
21 exposures were.

22 So they give us some sense that, yes, being

1 exposed to prescription opioids earlier and
2 misusing those did happen before they moved to a
3 dependent state or an addicted state. And this
4 applies to both patients or people who are addicted
5 to any schedule III drug or any prescription drug
6 as well as those who are heroin users.

7 Of note, this top study, what they
8 identified is that the lower the age of first
9 misuse -- that people who recalled earlier onset of
10 first misuse, that those kids were at much higher
11 risk for abuse and dependence.

12 The data that I've sort of summarized to
13 date talk about those trajectories. What's really
14 more interesting to me is why do kids why do young
15 adults misuse these drugs. And by far and away,
16 the data that we have on prescription opioid misuse
17 suggest that kids do it to manage symptoms. The
18 highest motive is for pain relief or pain
19 management, and then a lot of kids misuse these
20 drugs to manage sleep or to help them sleep, to
21 relieve anxiety. And about 1 in 10 kids who have
22 misused this drug do so to get a psychotropic

1 effect, to get high or to experiment.

2 I'm going to talk about pain and misuse
3 because I also think that's why we're here, is to
4 talk about how we manage pain, and how we measure
5 pain, and how we measure these outcomes so that we
6 can reduce these negative trajectories.

7 The data that we have, again, self-reported,
8 suggest that there is a strong association between
9 pain and misuse. Dr. Groenewald from the
10 University of Washington looked at the Adolescent
11 to Adult Health Data, the Add Health data, and this
12 was a longitudinal study from 1995 through 2008
13 that followed kids from adolescence through
14 adulthood. And they found that kids who had
15 reported chronic pain during adolescence had a much
16 higher risk of later misuse when adjusted for other
17 known risk factors, and this risk carried on into
18 later adulthood as well. The sample was about 28
19 years of age when they followed them up at their
20 latest time point.

21 The other risk factors that were also
22 identified in this study included recent legitimate

1 use suggesting that these kids or that this sample
2 also went on to continue to get opioids during
3 adulthood. So this may indicate a persistent pain,
4 persistent chronic opioid-use population, but
5 again, we don't have all the details on that.

6 Substance use in childhood, adverse
7 experiences, including neglect and abuse, were also
8 related, and then another study that used that same
9 database found that pain was a mediator between
10 those childhood adverse experiences and later
11 misuse.

12 One of the areas of my research has been to
13 find out why people make the decisions that they
14 do. And we surveyed about 1,000 community
15 adolescents to emerging adults, and what we looked
16 at, we wanted to know why these kids made
17 deliberative intentions to misuse an opioid, and
18 specifically for the purpose of managing pain
19 because that's what I'm interested in.

20 What we found was that the group that
21 indicated past opioid misuse were at higher risk.
22 The people that had a higher preference for pain

1 relief or a higher need for pain relief indicated
2 they were at higher risk for intentionally making
3 decisions that would indicate intentional misuse,
4 and then those with recent substance use also were
5 at higher risk.

6 Probably most notable from my data was that
7 we found that when people had higher perceptions of
8 opioid risks and the risks of misuse, that that
9 group was much less likely to misuse or to
10 intentionally misuse an opioid. And what these
11 data told us was that if we could boost people's
12 risk perceptions, that we may be able to eliminate
13 some of the misuse in this population.

14 The other data that we have related to pain
15 gives us a little bit better understanding of
16 persistent opioid use. What we know from community
17 samples is that about 1 in 5 kids reports chronic
18 pain. The students that I talked to in my studies
19 have shown that these kids have ongoing or
20 recurrent musculoskeletal pain, headaches,
21 abdominal pain. It's very common in our
22 communities.

1 When you look at after surgery, after spine
2 fusion, after major procedures, we also have data
3 that suggests about 1 in 5 go on to have persistent
4 pain after surgery or an initial trauma. And then
5 the data that Dr. Brummett talked about was that we
6 know that from selected procedures, that about
7 5 percent of kids will go on to have what has been
8 termed "persistent opioid use after surgery." And
9 that is, again, use outside of a time frame that we
10 would expect them to use it.

11 The risk factors for persistent pain and
12 opioid use are not too surprising, at least to me,
13 and I would imagine that they wouldn't be too
14 surprising to most of you.

15 Major surgery, major abdominal surgery, in
16 particular for kids, is associated with chronic
17 pain after surgery and chronic opioid use. A
18 gastrointestinal comorbidity, irritable bowel
19 syndrome, which as we know is also a chronic pain
20 condition.

21 Pre- and perioperative pain and opioid
22 consumption, people have talked about that before,

1 but those are risk factors for ongoing and
2 persistent pain. And then kids who need ongoing
3 procedures. We have a lot of children who come in
4 for procedures for chronic conditions and if they
5 need a repeated procedure 6 months from
6 now -- spine fusion is one of those -- those kids
7 are going to have a higher risk for persistent
8 opioid use.

9 The other thing that we have -- and someone
10 asked a question about this earlier -- is what do
11 know about mental health conditions. These are
12 really important data that just came out, again
13 using the large administrative database from
14 private insurers, that these data found, this study
15 found, that the diagnosis of any mental health
16 condition during adolescence was associated with
17 both opioid use and long-term use. And if a child
18 had more than one mental health condition, it
19 quadrupled the risk for opioid use and persistent
20 use. And again, not surprisingly, substance use
21 disorders and particularly opioid-use disorder
22 quadrupled and increased the risk even greater.

1 So mental health diagnoses, mental health
2 issues seem to be related, and I think Dr. Brummett
3 also talked about that, depression and anxiety.

4 That finding isn't just from the large
5 administrative databases. My colleagues have found
6 that through Monitoring the Future data, through
7 the Secondary Student Life surveys, that substance
8 use -- and it's been measured in many different
9 ways. But in general, substance use is associated
10 with both medical use and misuse.

11 The other thing that I think I need to hound
12 on is the relationship of substance use and abuse
13 and misuse a little bit because all of these
14 databases suggest that kids who need these drugs
15 medically, who misuse them, misuse their own, and
16 misuse others are all at risk for having either
17 concurrent or future substance use disorders.

18 As you can see from this slide, misusing
19 one's own opioid is associated with a much higher
20 risk than just medical use alone, and then misusing
21 others' prescriptions again increases that risk
22 even more for substance use disorder or meeting

1 criteria for substance use.

2 I don't want to misrepresent these data
3 because we know that there are limitations to all
4 of our research, no matter what it is, whether
5 they're well-constructed, randomized trials. But
6 the limitations for these data are pretty great.
7 We know that the operational definition of misuse
8 has differed across the studies, which makes it
9 really difficult to disentangle misuse based on why
10 these drugs are misused.

11 There have been variability in the time
12 frame of assessments. There are selection biases,
13 obviously. Most of these studies are very well
14 representative of our communities of kids, but kids
15 do select to be in them. And then, of course,
16 there's report bias, recall bias, and then loss to
17 follow-up when you start looking at the
18 longitudinal data. Then I would just mention that
19 there have been imputation techniques for missing
20 data that I believe personally also introduce a
21 bias.

22 So I want to leave you with a few takeaways

1 that I think that these data tell us. Number one,
2 we need to improve pain and symptom management in
3 kids, and we need to do better longitudinal
4 follow-up. And I know there was some discussion
5 here about do you follow someone up for 4 weeks,
6 that that's good enough?

7 I don't believe it is. I think that we need
8 to follow up kids longer. And I know that those
9 studies are difficult to do, but particularly after
10 major procedures, I think we are remiss if we do
11 not follow up patients longer, both clinically and
12 in research.

13 I think we need to do better mental health
14 substance use assessments, and when we note
15 problems, that we need to intervene. I do know
16 that for clinical trials, we tend to exclude kids
17 with some of these disorders, and then we miss out
18 on the opportunity to find out what happens and how
19 we address pain in kids with mental health
20 disorders. We know that at least in our setting,
21 at the University of Michigan, we have a lot of
22 kids that come in with mental health issues.

1 We need to improve the type of risk
2 information that we give to adolescents at the time
3 of prescribing, and this doesn't mean just rattling
4 off a list of possible adverse effects, but helping
5 kids understand what are the riskiest problems and
6 how to avoid those problems. And I would also
7 suggest that that's something we need to do for all
8 patients, not just adolescents.

9 Then for future research, I think we need to
10 adapt consistent definitions of what these adverse
11 outcomes are. There's a really good article here
12 that I'm going to leave you with here, Smith, that
13 really defines what misuse and abuse is. And I
14 think that those definitions, at least for me as a
15 clinician and researcher, seem to be good enough
16 operational definitions. So thank you very much.

17 **Clarifying Questions**

18 DR. BATEMAN: Thank you.

19 Are there clarifying questions for
20 Dr. Voepel-Lewis? Please remember to state your
21 name into the record before you speak.

22 Dr. Rosenberg?

1 DR. ROSENBERG: Dr. Rosenberg. There is
2 evidence that 6 to 7 percent of middle-schoolers
3 inject some illicit substance. Do you have any
4 evidence for opiates and injection behavior?

5 DR. VOEPEL-LEWIS: I don't have any of the
6 data here on injection. Some of my colleagues have
7 gathered data on that particular method of misuse,
8 and I didn't represent it here, so I can't tell you
9 what those numbers are.

10 Six or 7 percent seems really high for me.
11 I don't know where those data would come from
12 because most of the data that my colleagues have
13 when they ask about what route was used to use,
14 most of them would inhale. Most kids who abuse for
15 psychotropic effects, inhale.

16 DR. ROSENBERG: For our county, Washtenaw
17 County, that's the number, but obviously it may be
18 illicit steroids.

19 DR. VOEPEL-LEWIS: Maybe it's other
20 prescription.

21 DR. ROSENBERG: It's just illicit
22 substances, not specifically opiates.

1 DR. VOEPEL-LEWIS: Yes.

2 DR. ROSENBERG: But I agree with you. I
3 found that incredibly shocking, but I'm told that
4 number is middling for counties in general.

5 DR. VOEPEL-LEWIS: Yes. I guess we
6 shouldn't be shocked too much, although I'm not
7 sure -- so there's one other limitation to data
8 that I have to mentioned when you're talking about
9 high-schoolers and junior-high-schoolers. There's
10 this thing called mischievous reporting, this
11 little term called mischievous reporting.
12 Researchers have tried to get at what is
13 mischievous reporting and how does it affect the
14 outcomes, and that kids will over-report behaviors.

15 But in general, when you start
16 looking -- the data that I've presented, and I
17 always think about that when I'm asking kids to
18 self-report, is are they telling me the truth. And
19 these data. because they're pretty consistent
20 across most of the studies, suggest that kids are
21 fairly honest, but we can't assume that they're
22 honest. And I'm wondering if some of that 6 and

1 7 percent comes from mischievous reporting. I
2 don't know. Maybe it's accurate. I'm not sure.

3 DR. BATEMAN: Are there data that you are
4 aware of regarding the indications for opioid
5 prescribing in children and adolescents, the
6 proportion that are attributable to surgery or
7 dental procedures?

8 DR. VOEPEL-LEWIS: I have some colleagues
9 from -- well, now at the University of Denver, or
10 at University of Colorado and also from Johns
11 Hopkins that are trying to get around that,
12 evidence-based guidelines. I've worked with some
13 of my pediatric anesthesiologists from the Society
14 of Pediatric Anesthesia to try to get at that, and
15 there are people that are working on that.

16 The one thing that I would caution -- and
17 this sort of addresses the gentleman here that was
18 talking about surgery, or his own surgery
19 experiences -- is that evidence-based guidelines
20 apply to an average patient.

21 One of the things that I really believe is
22 that guidelines can help us look at what the

1 average patient would use or need after surgery,
2 but we know from these data that some patients need
3 more. And they may need more because they come in
4 with chronic pain or they come in with chronic
5 conditions and those kinds of things, but we don't
6 know. But the guidelines, I have not seen any
7 specific guidelines yet, but I know they're coming
8 out because I know my colleagues are working on it.

9 DR. BATEMAN: So I wasn't so much asking
10 about the amounts that children are prescribed, but
11 what are they getting opioids for? Do you have
12 data regarding --

13 DR. VOEPEL-LEWIS: Oh, just in general.

14 DR. BATEMAN: -- due to injury, surgery,
15 dental work, things like that.

16 DR. VOEPEL-LEWIS: Yes. The data that we
17 have for kids suggests that most adolescents who
18 get prescribed are getting them from either sports
19 injuries, which is very common, surgery, and also
20 dental procedures. So those are driving the
21 highest opioid prescribing rates in kids.

22 DR. BATEMAN: Have there been any efforts to

1 link the indication for medical exposure with
2 misuse?

3 DR. VOEPEL-LEWIS: The only data we have
4 come from that study by Dr. Miech, that tried to
5 dissect out -- well, he dissected out prescription
6 use to his definition of abuse, which was misuse
7 for the purpose of getting high. And it's about 2-
8 to 3-fold increase, but the rate is really low.

9 DR. BATEMAN: Does it vary by the indication
10 for the initial --

11 DR. VOEPEL-LEWIS: I don't think there's any
12 data to suggest that.

13 DR. BATEMAN: Dr. Higgins?

14 DR. HIGGINS: I have two quick questions.
15 Jennifer Higgins. We know that there inherently
16 are problems with self-report data. Are you
17 working on any standardized or more objective
18 measures to get at this?

19 The second question is, what if any effects
20 does exposure to treatment have with respect to
21 trajectories for future misuse among adolescents?

22 DR. VOEPEL-LEWIS: Self-report for

1 misuse -- well, it's actually for all of these. I
2 can't -- I've tried to come up with better
3 measures, and if you know any of my work, we've
4 tried to look at how we measure pain, for instance,
5 after surgery. And self-report is the gold
6 standard for how we do that, is we ask the patient.

7 For misuse, I would imagine that trying to
8 get at asking kids how they've used these drugs in
9 an adherent way or not in adherent way is the best
10 way to get at that, unless you could get somehow to
11 their parents or peers to find out whether they're
12 misusing. But most of these kids and the kids that
13 I've talked to actually just go into the cupboard
14 and self-medicate, so self-report is the best thing
15 that we have.

16 For pain and for persistent use, I would
17 say, again, asking patients is probably the best
18 measure that we have, but I would say that we
19 probably shouldn't use overly simplistic measures,
20 that we should use more broad measures about
21 functioning and how pain interferes with regular
22 activities because, to me, those are better outcome

1 measures.

2 So I'm not sure if I answered your question
3 because you're talking about self-report in
4 general, and these are outcomes that are pretty
5 hard to get at if we don't go to the patient
6 themselves.

7 One thing that I would say is, though, my
8 colleagues, when they've tried to ask the
9 self-report misuse question, is they've couched it
10 in a way to indicate to the child that it's okay to
11 report misuse, that we know that a lot of people
12 use their medications in a way that aren't
13 intended. So it gives people sort of this okay,
14 this you can be honest with me because we know
15 people do this.

16 So if we tell people that we're trying to
17 find out how people use their medications, that we
18 might get more honest answers, but that's the only
19 thing I could say might get better self-reported
20 data.

21 DR. HIGGINS: Then the second question with
22 respect to treatment interventions affecting the

1 trajectory of future misuse.

2 DR. VOEPEL-LEWIS: We have no data right now
3 that suggest that we can reduce the misuse rates
4 except the data that I showed you that aligns with
5 prescribed use. So decreasing prescription use
6 might decrease exposures, and then it will remove
7 the ability to misuse medications because the
8 prescription won't be there. There won't be as
9 many leftovers.

10 So that data, we do have. We do have some
11 data on that, that suggest that alignment. So
12 decreasing opioid prescribing from the start will
13 decrease exposure.

14 The one thing that I would say is better
15 pain management. If we can better pain
16 manage -- and the data, I guess, given the
17 associations between the pain outcomes and misuse,
18 kids and patients need to manage their symptoms,
19 and they're going to do it on their own if we don't
20 do it for them.

21 So that's one target. If we can get kids to
22 better manage pain in non-opioid ways, non-drug

1 ways, then maybe we can get kids to not misuse.

2 The other thing that I would say is managing
3 other symptoms. It's fascinating to me how many
4 people misuse their medications for sleep, and we
5 know that opioids disrupt sleep. So they think
6 they're getting sleep after opioids, but they're
7 using it to manage sleep.

8 I've had parents during my surveys tell me
9 they keep their opioids because they want to sleep
10 on the plane when they're flying overseas. And I'm
11 thinking to myself, it's just going to disrupt your
12 sleep and make you feel worse later, but that's
13 what they do.

14 So I think, if we don't help people
15 understand how to better manage symptoms without
16 these medications and address their mental health
17 needs to decrease their anxiety, then we're going
18 to have misuse because people will need to manage
19 symptoms.

20 DR. BATEMAN: Dr. McCann?

21 DR. McCANN: Hi. Mary Ellen McCann from
22 Boston. This may be getting to what Dr. Bateman

1 was getting at, but I think what you talked about
2 is that early exposure is associated with an
3 increased risk of later dependency and problems.
4 And the implication is that that's causal, but
5 maybe it's not. Maybe it's just impulsive, risky
6 kids managed to fall off the jungle gym at an
7 earlier age and are exposed because they're the
8 personality type that's going to take risks with
9 narcotics and are going to take risks with their
10 body in terms of trauma.

11 So one way of possibly looking at that would
12 be to divide out the indications that these kids
13 were prescribed their opiates. Kids with
14 appendectomies, you wouldn't think that that would
15 be associated with risky behavior, but kids needing
16 shoulder surgery, I mean, if you play football,
17 you're not too worried about risk.

18 But you don't have any data?

19 DR. VOEPEL-LEWIS: No, but that's a really
20 interesting point. And one thing I want to mention
21 is that data that talked about earlier misuse,
22 those are recall data. So we can't make any causal

1 assumptions at all about that. And you're right
2 that the kids who misuse an opioid, or at least
3 recall misusing an opioid before the age of 13, may
4 be an entirely different group. They may be
5 exposed in an environment -- they may have all
6 kinds of those risk factors that go together.

7 So I do agree with you that studies that
8 would differentiate, like underlying diagnoses,
9 physical diagnoses, the reason that they were
10 originally treated for pain are really important;
11 though, all those surveys that we base the misuse
12 data on don't really get at a lot of health data.
13 The Add Health data is probably the best that we
14 have, but a lot of those databases that people are
15 evaluating now to look at this don't really have a
16 lot of definitive -- they're all self-reported
17 data. They don't have their diagnoses.

18 Then the administrative databases with the
19 prescriptions things, they have diagnostic codes
20 that can help us understand if they've been
21 diagnosed with a mental disorder, but they can't
22 help dissect other things.

1 So it's kind of really hard with the data
2 that we have right now to get at that. But I would
3 say that, going forward, we need to assess -- we
4 would know going forward why we're prescribing a
5 drug, but what we don't know unless we do it in a
6 methodical way is assess for substance use in kids.

7 We haven't done it very well ourselves at
8 the University of Michigan. We don't assess that
9 very well. We don't assess mental health issues
10 prior to surgery. We don't assess those things.
11 They might have a diagnosis in an electronic chart,
12 but we don't do that very well. And I think we
13 need to be more methodical about how we do that.

14 DR. McCANN: Thank you.

15 DR. BATEMAN: Dr. Goudra?

16 DR. GOUDRA: Hi. Dr. Goudra from Penn
17 Medicine; a couple of things. I know it's all
18 self-reported data. Do you have any information on
19 either race, or ethnic differences, or regional
20 differences?

21 DR. VOEPEL-LEWIS: The data that we have on
22 race, again, I didn't put all those data up here,

1 but we do know that the kids who are mostly
2 prescribed are usually white kids. Minorities are
3 prescribed less often. And in our samples, race
4 was also associated with misuse.

5 I think the data on abuse and addiction is
6 also panning out that way, that we've prescribed
7 mostly to white, probably people with insurance,
8 and those kids, at least in these data, seem to
9 show up as having more medical exposure to opioids
10 and more misuse.

11 DR. GOUDRA: The second question is what
12 would happen if these kids who are either abusing
13 or misusing are denied access to these drugs?
14 What's your opinion?

15 DR. VOEPEL-LEWIS: Can you repeat that
16 question, the first one?

17 DR. GOUDRA: What would happen if they don't
18 have access to these drugs?

19 DR. VOEPEL-LEWIS: This is the caveat,
20 because in my mind, the laws and the practices are
21 changing to prescribe less. I guess my thought is
22 that we can prescribe less for the majority of

1 people, but there are going to be people, kids and
2 adults, that have ongoing persistent pain.

3 I just participated in a panel at the
4 University of Michigan a couple weeks ago, and we
5 had a panel of patients, and many of them had
6 chronic pain. We have those patients with those
7 issues.

8 So if we do not replace the opioid with
9 other better pain management strategies,
10 non-opioids and I would say, non-medical, non-
11 medicinal approaches, and help people manage their
12 pain and their mental health problems, that we're
13 going to have ongoing misuse of other people's
14 drugs or of street drugs.

15 DR. BATEMAN: We have time for one more
16 short clarifying question. Mr. O'Brien?

17 MR. O'BRIEN: Thank you. Joe O'Brien.
18 Thank you very much for your presentation. I
19 enjoyed it. My question relates to following up on
20 Dr. McCann and others on the slide, the second
21 slide on misuse to abuse, and on timing.

22 Two decades ago, I was on a school

1 committee, and every year, we would get our surveys
2 of the students, which were always alarming because
3 you saw our trend. And it was always on both their
4 drug and sexual behavior. And it was interesting
5 to see because they seemed to be correlated.

6 So to Dr. McCann's point, there may be some
7 indication there of the risky behaviors that go
8 hand in hand that may affect later on rather than
9 just exposure to the particular drug.

10 But my question was, with regard to the 4 or
11 5 adolescent heroin users, and again indicating
12 that their first exposure memory was with
13 prescription opioids, it always was in the past;
14 alcohol was the first exposure.

15 So was this a directed question or was this
16 a pure open question to say that opioids were in
17 fact -- or was alcohol actually their first
18 exposure to a substance?

19 DR. VOEPEL-LEWIS: Those data were based on
20 qualitative surveys or interviews of patients that
21 were in treatment for heroin or heroin and other
22 addictions. So they're very minimal data, and the

1 recollection was the first opioid that they were
2 exposed to.

3 So it could very well be that they were
4 using other substances like alcohol, marijuana, and
5 other things, and in fact, most heroin users use a
6 lot of different substances. So the heroin users
7 are really that far extreme of the opioid-dependent
8 addicted people, aren't they? The opioid addicts
9 that I have heard speak and have talked to said
10 they were never going to go to heroin, but then it
11 became the cheapest drug that they were going to
12 use. But in the meantime, they were using all
13 kinds of other substances to manage their symptoms,
14 and to manage their addictions, and to avoid the
15 withdrawal.

16 I just want to mention one more thing about
17 risky behavior in kids. I do a lot of work on
18 risky decisions, and we do tend to think that there
19 are these impulsive kids, and that is true, but we
20 also know that when kids have an exposure to a
21 substance or they have an exposure to a behavior,
22 like they drink or they take a drug, and they have

1 a good outcome and they don't have any bad outcomes
2 with it, that decreases their risk perception
3 dramatically.

4 So if a kid misuses their opioid that we
5 prescribed them, they take a little more of it,
6 they take it more frequently, and it relieved their
7 pain, and by the way, it helped them sleep and
8 helped them be less anxious, their risk perception
9 plummets.

10 That's why in my data, you see that previous
11 opioid misuse was associated with an intention to
12 misuse, and I'm finding this in parents right now.
13 I'm interviewing parents who take their kids home,
14 and we're surveying them, And we're finding a link
15 that the people that want to keep their opioids,
16 the parents misused their opioids in the past.
17 They either used someone else's, they shared their
18 drug, they gave it to one of their kids, and it was
19 okay. Nothing bad happened.

20 So they're willing to keep opioids in their
21 house because nothing bad happens when they do
22 this. If you have higher risk perceptions -- so

1 it's not just about impulsive behavior; it's also
2 about perception.

3 MR. O'BRIEN: Thank you.

4 DR. BATEMAN: Thank you very much.

5 We will now proceed with the FDA
6 presentations. Our first presenter from the FDA is
7 Dr. Mallika Mundkur.

8 **FDA Presentation - Mallika Mundkur**

9 DR. MUNDKUR: Hi, everyone. My name is
10 Mallika Mundkur. I'm a medical officer in the
11 Office of Surveillance and Epidemiology, and in
12 this talk, I'll be providing yet another public
13 health perspective for the rationale on the
14 development of opioid-sparing, opioid replacement
15 drugs.

16 The main objective for this talk is to
17 provide you with a framework for understanding some
18 of the potential public health benefits of opioid-
19 sparing drugs. We'll describe a number of existing
20 public health issues relating to prescription
21 opioids, where the introduction of opioid-sparing
22 alternatives could have a positive impact.

1 First, we'll review recent data on
2 prescription opioids and overdose deaths. We'll
3 then highlight recent guidelines and policies that
4 have created a pressing need for more alternatives
5 to opioids and pain management. We'll describe
6 changes in the levels of opioid prescribing in
7 recent years and discuss the opportunity to further
8 improve prescribing in certain settings such as
9 following surgery, which we've heard quite a bit
10 about now.

11 Finally, using the post-surgical setting as
12 an example, we'll discuss the problem of leftover
13 opioid analgesics, again which we've heard about
14 nicely in the previous talks, and their
15 contribution to the problem of opioid misuse in the
16 general population.

17 In 2016, the most recent complete year of
18 data that we have available from the CDC, over
19 60,000 deaths in the U.S. were due to drug overdose
20 of which two-thirds involved an opioid and
21 one-third involved prescription opioid
22 specifically. Many of us are familiar with this

1 data, trending opioid-involved deaths over time in
2 the U.S., with projections in this case for the
3 year 2017.

4 In this graph, each line represents a
5 different opioid type, and the blue line of course
6 is most eye catching. These are deaths involving
7 fentanyl, mostly illicit. However, you can also
8 see that deaths involving prescription opioids,
9 included in the group represented by the green
10 line, also appeared to be on a steady rise and are
11 predicted to continue rising through 2017 to a
12 count of nearly 15,000.

13 This picture alone provides rationale for
14 why safer and effective alternatives to
15 prescription opioids are needed. Perhaps we could
16 prevent some of these deaths.

17 To help clinicians with the very complex
18 decision making surrounding opioid prescribing, a
19 number of guidelines have been issued in recent
20 years, and of these, we've listed some of the
21 better known ones: the interagency guideline on
22 prescribing opioids for pain, issued in 2015,

1 covering a broad range of pain conditions and
2 scenarios; the CDC guideline on prescribing for
3 chronic pain, this one targeting primary care
4 clinicians and again prescribing for chronic pain
5 specifically; the Michigan Opioid Prescribing
6 Engagement Network we've heard about earlier from
7 Dr. Brummett; and the Johns Hopkins Post-Surgical
8 Pain Management guidelines, the last two being more
9 heavily surgery focused.

10 In addition to these guidelines, multiple
11 policies have been implemented or proposed in
12 recent years to reduce the amount of opioids
13 prescribed. Many of these, including the ones
14 highlighted on this slide, have taken a sort of
15 uniform one-size-fits-all approach with the
16 occasional exception for specific conditions.

17 A number of states have passed laws limiting
18 initial prescription durations or amounts. The DEA
19 has proposed decreasing manufacturing quotas for
20 several prescription opioids. And very recently,
21 Oregon Medicaid has proposed tapering chronic pain
22 patients on opioids to doses of zero, and these are

1 just a few of the policies that have been rolled
2 out in the past few years.

3 While likely well intentioned, such policies
4 do not account for variation and variation in
5 patients, conditions, or reasons for use. They may
6 lead to inadequate treatment of pain or other
7 negative unintended consequences and create a need
8 more than ever to expand options for the treatment
9 of both acute and chronic pain.

10 Given the growing awareness of problems
11 resulting from prescribed opioids together with
12 some of the more recent policies, it's not really
13 surprising to see that the amount of opioids
14 prescribed has finally begun to decline.

15 In this graph, summarizing data from IQVIA
16 National Prescription Audit over the period 1997 to
17 2018, we see units of opioid analgesic products
18 dispensed, corresponding to the Y-axis on the left
19 in the blue bars, and oral morphine equivalents in
20 metric tons corresponding to the Y-axis on the
21 right in the green bars.

22 In this graph, we see a peak in prescribing

1 around 2012 with a subsequent decline, in this case
2 with projected data for the year 2018. Focusing in
3 on more recent years, this graph also showing data
4 from IQVIA, but for the period 2006 to 2017, we
5 have on the Y-axis prescriptions in millions and on
6 the X-axis the year.

7 The solid gold bars represent the total
8 number of opioid analgesic prescriptions dispensed
9 per year, while the blue and red lines represent
10 data for immediate-release and extended-release
11 formulations, respectively. These data also
12 suggest a peak in dispensed prescription in 2012
13 with a subsequent decline to 196 million
14 prescriptions dispensed in the year 2017.

15 You'll also note that the changes that are
16 occurring are most prominent for immediate-release
17 formulations, which are often prescribed for acute
18 pain, though may also in certain cases be
19 prescribed in the context of chronic pain.

20 Despite these changes in prescribing, which
21 do appear promising at a high level, many of the
22 policies, which likely have contributed to some of

1 these changes, have been strongly criticized by
2 patients and clinicians for being blunt
3 instruments, that on the one hand may decrease
4 access for patients with a legitimate need for
5 opioid analgesics, while on the other hand, not
6 reassuring us that overprescribing in all scenarios
7 has been fully addressed.

8 Taking these factors into consideration,
9 Commissioner Gottlieb has said that one of the
10 agency's goals is to reduce overall exposure to
11 opioids while preserving access for those patients
12 who will benefit.

13 As part of FDA's strategy in identifying
14 opportunities to further improve opioid prescribing
15 in the population at large, the Office of
16 Surveillance and Epidemiology has conducted an
17 extensive review of opioid use in the post-surgical
18 setting.

19 One study that we've done using the Sentinel
20 Distributed Database attempted to identify post-
21 surgical populations where opioid exposure might be
22 safely reduced while simultaneously identifying

1 patients where aggressive opioid reduction
2 strategies may be more difficult.

3 In this study, we used administrative claims
4 data from the period 2009 to '15, prior to the
5 widespread implementation of limits on opioid
6 prescribing, and identified opioid-naïve patients
7 undergoing 1 of 12 common surgeries. We assessed
8 how much patients were prescribed in initial
9 prescriptions, graphed here with these box plots,
10 and estimated use based upon patterns of refill.

11 We identified two groups of surgeries, which
12 we'll refer to as higher opioid need surgeries and
13 lower opioid need surgeries. In the former group,
14 higher need refers to patients who, on average,
15 received larger initial prescriptions and were more
16 likely to receive additional opioid fills.

17 Our data suggest that for these procedures,
18 spinal fusion, hip replacement, knee arthroplasty,
19 and hip fracture repair, any widespread
20 restrictions on opioid prescribing to these
21 populations needs to be accompanied by much closer
22 monitoring of patients for pain management needs

1 and opioid refills when appropriate.

2 For surgeries we identified to be lower
3 need, we refer to patients who on average received
4 smaller initial prescriptions and were also less
5 likely to receive additional fills. For these
6 procedures, laparoscopic cholecystectomy,
7 hysterectomy, Caesarean, appendectomy, and tooth
8 extraction, our results suggest that further
9 reductions in opioid use may be appropriate despite
10 the already lower levels of prescribing.

11 Dr. Brummett referred to this study I think
12 earlier in his presentation, but to dive a bit more
13 into detail here, this study is one example of a
14 study that we've reviewed that extends our findings
15 and gives us some similar insight on what patients
16 actually need.

17 This study was based upon 33 health systems
18 in Michigan during the year 2017. These are adults
19 18 and above. Patients in the study were included
20 if they were prescribed an opioid after surgery and
21 responded to a patient-reported outcomes survey.

22 Only surgeries with at least 25 patients

1 were included for analysis, explaining the
2 distributions of surgeries we have represented
3 here, which are predominantly general surgeries and
4 gynecologic surgeries.

5 In this figure, the dark blue represents the
6 median number of pills prescribed and the light
7 blue represents the median number of pills
8 consumed. Across the surgeries, it's very evident
9 that rates of consumption vary, ranging from
10 3 percent for thyroidectomy to 67 percent for
11 ileostomy colostomy, indicated by the red boxes.
12 Of note, 24 percent of patients in this study took
13 no opioids after surgery despite receiving a
14 prescription.

15 In addition to looking at these rates of
16 consumption, reflecting the problem of
17 overprescribing and possible overexposure, this
18 study also assessed leftover tablets, which we've
19 heard pose a separate problem for patients and
20 their families.

21 Here, we've highlighted a few of the
22 surgeries that we identified to be lower opioid

1 need based upon our analyses that were also
2 examined in this study. Moving from left to right,
3 we see surgery type, the number of surgeries
4 evaluated, the median number of pills prescribed,
5 and the estimated number of leftover pills.
6 Finally, we have the total number of leftover pills
7 for that surgical category.

8 We can see that, with existing prescribing
9 practices for these surgeries, leftover tablets
10 across populations of patients can accumulate to a
11 very large number.

12 We won't go over this table in detail, but
13 this summarizes data from other studies that we've
14 reviewed, underscoring the same points. The amount
15 of opioids consumed varies extensively by surgery,
16 though cross-surgical types, leftover opioids are a
17 consistent problem.

18 In summary, our assessment of the
19 post-surgical population through our own analysis
20 in Sentinel as well as our evaluation of the
21 literature suggests there are likely substantial
22 opportunities for targeted improvement of opioid

1 prescribing practices, particularly in certain
2 post-surgical subgroups. Opioid-sparing
3 alternatives may play a key role in addressing some
4 of these issues for the post-surgical population.

5 Although this may be self-evident, we just
6 want to take a moment to really spell out the risks
7 of leftover opioids in the post-surgical setting or
8 any other setting, where more opioid analgesics are
9 provided than used.

10 Leftover opioids are potentially problematic
11 in that excess supplies combined with non-secure
12 storage and lack of disposal, which we know is
13 happening, can feed into other problems like third-
14 party access, misuse, and related outcomes like
15 abuse and addiction in patients themselves as well
16 as family members. Leftover opioids can also lead
17 to accidental exposures in children or adolescents,
18 resulting in fairly serious outcomes.

19 Why do we know leftover opioids are a
20 problem? Here, we have data from the 2017 National
21 Survey on Drug Use and Health, a federally funded
22 household survey of individuals 12 and older from

1 the general population.

2 Out of the estimated 11.1 million
3 individuals who reported misuse of prescription
4 pain relievers in the past year, the top sources
5 where pain relievers were obtained were either from
6 a friend or relative or the patient's own doctor,
7 reported by 53 percent and 34 percent of
8 individuals, respectively. In other words, when
9 patients receive more than they need, those
10 leftovers can be and often are misused by patients
11 themselves in the future or by their friends and
12 relatives.

13 Of note, NSDUH defines misuse fairly
14 broadly, and this definition encompasses use of a
15 drug in any mode other than as medically directed,
16 including but not limited to abuse. According to
17 NSDUH, the main reason reported for misuse of
18 opioid analgesics was actually taking these
19 medications to relieve pain, indicated by the red
20 section of the chart.

21 While this reason may seem benign, this is
22 self-treatment. It was not medically supervised,

1 and such self-treatment could lead to problematic
2 patterns of use down the line.

3 A number of other reasons for misuse were
4 reported, including to feel good or get high, to
5 relax or relieve tension, to help with sleep, to
6 regulate emotions, being hooked on the drug, or
7 simply having the desire to experiment. With these
8 reported reasons for misuse, it may seem a bit more
9 obvious that they're potentially problematic.

10 By attempting to reduce the amount of
11 leftover opioid analgesics available for non-
12 medically-directed use, we may be able to prevent
13 these behaviors before they begin in patients as
14 well as their contacts. Replacement of prescribed
15 opioids with opioid-sparing alternatives could have
16 a large impact on levels of opioid misuse in the
17 general population.

18 In summary, with this overview, we've
19 attempted to provide you with a framework to
20 appreciate the potential public health benefits of
21 opioid-sparing drugs. We've described guidelines
22 and policies that have led to potential issues with

1 access to pain medications that are expected to
2 become more pronounced, highlighting the urgent
3 need for new non-opioid alternatives to fill a
4 rapidly growing void in pain management.

5 We've pointed out that opioid prescribing
6 has decreased, though these changes might be more
7 dramatic if effective alternatives to opioids were
8 readily available and if overprescribing of opioids
9 was targeted in a systematic evidence-based manner.

10 We've described our analysis on some of the
11 literature relating to post-surgical populations,
12 highlighting the problem of excess prescribing and
13 leftover opioids following several common
14 procedures, which are likely to contribute to the
15 problems of misuse, abuse, addiction, and
16 accidental exposure.

17 Opioid-sparing drugs, if used to replace
18 opioid analgesics, could decrease leftover opioid
19 analgesics and may in turn have a positive impact
20 on the problem of opioid misuse in the general
21 population.

22 Finally, to return to where we began with

1 opioid-involved deaths, we've discussed the large
2 number of deaths that continue to involve
3 prescription opioids, underscoring the fact that
4 prescription opioids continue to be a major problem
5 in the U.S. With the development and use of
6 opioid-sparing alternatives, we could have an
7 impact on some of these deaths.

8 In conclusion, opioids are associated with
9 serious risks of misuse, abuse, addiction, and
10 overdose, and opioid-sparing alternatives could be
11 a great public health benefit by expanding safe and
12 effective options in pain management while
13 simultaneously helping to reduce the public health
14 burden from adverse outcomes related to prescribed
15 opioids.

16 I want to acknowledge the review team and
17 end there.

18 DR. BATEMAN: Thank you.

19 The next FDA presenter is Dr. Pamela Horn.

20 **FDA Presentation - Pamela Horn**

21 DR. HORN: Good morning. My name's Pamela
22 Horn. I'm a clinical team leader in the Division

1 of Anesthesia, Analgesic, and Addiction Products.
2 Before I begin the content of my talk, I'd just
3 like to thank Dr. Robert Shibuya as well as my
4 other fellow team leaders and my division
5 management for their assistance in preparing for
6 this presentation.

7 Opioid sparing is a broad topic, and I'm
8 going to try to focus my talk on information that
9 can help the committee think about and discuss the
10 questions that we have for them today.

11 As we saw in Dr. Mundkur's presentation,
12 there's a huge public health burden associated with
13 opioids currently. Sparing opioids has a potential
14 role in meaningfully reducing this burden.

15 The types of effects that could be
16 considered to be opioid sparing are fairly broad,
17 and as Dr. Hertz mentioned this morning, they
18 include decreasing the amount of opioid taken for
19 analgesia while achieving comparable analgesic
20 benefit, all the way to replacing opioids
21 completely or replacing them in a certain setting,
22 such as the inpatient setting, an outpatient

1 setting, or for a certain period of time.

2 Now I'm going to briefly go over the
3 sections of my talk. I'll give an overview of
4 relevant recent publications that we identified in
5 a search for articles that included the term
6 "opioid sparing" and then I will talk about
7 relevant approved products for acute pain and
8 briefly touch on precedence in defining a
9 clinically meaningful treatment effect for opioid-
10 related adverse reactions.

11 Then I'm going to shift to discussing
12 options for study designs for future studies and
13 what information they could provide about opioid-
14 sparing outcomes and other effects that they could
15 have.

16 In this section, I'm going to talk briefly
17 about the study designs of the articles that we
18 identified in the published literature. This is
19 not meant to be comprehensive, but rather to give
20 an idea of what is being studied and published in
21 recent years.

22 The first point I'll make is that all of the

1 publications we identified were in surgery
2 patients. In the studies described in these
3 publications, all treatment groups had opioid
4 available for rescue analgesia, and thus they
5 weren't designed to manage pain without an opioid.
6 All of these studies that were reported used
7 quantitative measures of opioids as an outcome and
8 most had no planned safety-related outcome.

9 Here, I've listed the different classes of
10 interventions that were studied, and you can see
11 that there's a broad range. Here, I've got a table
12 of some of the other characteristics of these
13 studies. In the left column is the first author
14 and the publication year. The next column is the
15 specific intervention. And as you can see, all of
16 these studies were placebo controlled except for
17 the first two.

18 Of the two that did not include a placebo
19 control, one was a multimodal pain regimen which
20 consisted of celecoxib, gabapentin, dexamethasone,
21 acetaminophen, and ondansetron given at various
22 times during the study, and that was compared to

1 usual care. And the other that was not placebo
2 controlled, the control was paracetamol and
3 oxycodone patient-controlled analgesia, and the
4 intervention groups were different doses of
5 levobupivacaine.

6 The studies all had opioid available as a
7 rescue analgesic, as I mentioned, and a few studies
8 specified opioid-related adverse events as an
9 outcome of interest.

10 In summary, most of these articles report
11 studies where an effect of an intervention on how
12 much opioid was used for analgesia was compared to
13 how much opioid was used in a placebo control group
14 to see whether the intervention resulted in less
15 opioid being used.

16 Now, as you'll see in the next section, this
17 parallels the study designs for studies where
18 opioid analgesic rescue use was described in an
19 approved product label.

20 Now, I'll move on to summarizing the study
21 designs and the labeling language in relevant
22 approved products. There are four products that

1 describe opioid use in the clinical studies section
2 of the label that are indicated for use in acute
3 pain.

4 In the background document, we included two
5 other products, and I will cover those separately
6 because they are for different indications and not
7 for acute pain. You can see that all of the
8 studies enrolled a post-surgical study population.
9 The study populations selected cover a fairly broad
10 range, but they're pretty typical for these types
11 of studies.

12 The products are two NSAIDs, an injectable
13 ibuprofen for intravenous use and a ketorolac nasal
14 spray, and there's an injectable acetaminophen for
15 intravenous use and bupivacaine used for
16 infiltration or nerve block.

17 All of these studies were randomized and
18 double blinded. They were placebo controlled and
19 the comparison to placebo established the treatment
20 effect for the intervention being studied. As with
21 most pain studies, all these studies allowed rescue
22 analgesic medication, and in these studies, an

1 opioid medication was allowed as rescue in all
2 cases.

3 Another possible way to study efficacy would
4 be to use an add-on to standard of care, but these
5 studies did not employ that design.

6 There were different opioid rescue
7 medications used in these studies. All but the
8 bupivacaine studies used morphine patient-
9 controlled analgesia as the opioid, and patients in
10 the bupivacaine studies had either intramuscular
11 morphine or oral oxycodone available as needed as
12 part of the rescue use available. These studies
13 all measured pain based on patient-reported pain
14 intensity on a rating scale, and opioid use was
15 recorded by study staff during the treatment
16 period.

17 The primary efficacy outcome in all but one
18 of these studies was either the sum pain intensity
19 difference over either 24 or 48 hours or the area
20 under the curve for the pain intensity scores over
21 72 hours. In one study described in the ibuprofen
22 product label, the primary planned efficacy outcome

1 was the difference in morphine consumption, and
2 this was supported by the results on a pain
3 intensity endpoint.

4 For the opioid-use endpoints, there were
5 several ways that opioid use was summarized as an
6 endpoint. The most common way was to calculate the
7 mean milligrams of opioid use for the treatment
8 group during the time period of interest, which did
9 not go beyond 24 to 72 hours.

10 For the ketorolac product, the use in the
11 ketorolac group was expressed as the percent
12 reduction in use compared to the placebo group.
13 And for the bupivacaine product, the endpoint was
14 the proportion of subjects that used no opioid.

15 In the product labels, which were included
16 in the background documents, all the labels
17 described less opioid consumption in the active
18 group compared to placebo. The mean milligrams of
19 morphine or morphine-equivalent opioid were
20 described in three labels, and the percent less
21 opioid compared to placebo was described in the
22 ketorolac label.

1 In the bupivacaine label, the percent of
2 subjects that were opioid free at 48 and 72 hours
3 were also described. Two out of the four product
4 labels included a caveat that the clinical benefit
5 of these findings was not demonstrated or
6 established.

7 Here, I've summarized the information on
8 opioid use in the labels of products where morphine
9 PCA was used. Some of this information comes
10 directly from the label and some of it is in
11 publicly available reviews. You can see that the
12 differences in morphine PCA use in the first
13 24 hours were similar.

14 A few things that may be of interest to
15 describe in a label but aren't described in these
16 labels are a difference in opioid-related adverse
17 reactions and the number of patients who didn't
18 require opioids at later time points.

19 As I've already noted, one of the products
20 describes the proportion of patients that didn't
21 use opioids in the first 3 days after surgery, but
22 there may be other outcomes of interest such as

1 measuring how many patients didn't use opioids over
2 a longer period than 3 days or after leaving the
3 hospital, for example.

4 Now, I will briefly also cover two products
5 that have different indications. These products
6 aren't for acute pain, but they do describe opioid
7 analgesic use in their labels.

8 In the first row, the product is indicated
9 for endometriosis pain, and the opioid use in the
10 studies was measured for a longer period of time.
11 In this label, the proportion of subjects that
12 discontinued opioids for their pain at 3 months and
13 at 6 months is described.

14 In the second row, this is a product
15 indicated for prostate cancer. And in one of the
16 studies that's described in the label, as you can
17 see in the last column, subjects were not taking
18 opioids when they enrolled, and they were followed
19 over a long period of time. And then the time to
20 taking opioids for pain was assessed and reported
21 for the treatment groups.

22 I've covered products with labeling related

1 to a decrease in opioid use. No products have
2 labeling related to a reduction in opioid-related
3 side effects. However, there are products approved
4 in this area, and I'll briefly cover those.

5 The reason I'm mentioning these products is
6 that we are asking the committee to think about a
7 variety of possible outcomes related to opioid
8 sparing, and I want to give examples of how a
9 clinically meaningful difference in a couple of
10 opioid-related adverse reactions has been defined.

11 One of the other previous speakers mentioned
12 opioid-induced constipation, and that's the first
13 one I have listed here. There are four products
14 approved for this indication, and I've listed them
15 here.

16 The efficacy studies that supported the
17 approvals for these products were placebo
18 controlled, and the primary efficacy outcome was a
19 responder endpoint, where the response to the
20 treatment was based on a measure of spontaneous
21 bowel movements.

22 This next set of products is for

1 post-operative nausea and vomiting, and I've listed
2 the five products approved for this indication in
3 the table below. These studies were mainly placebo
4 controlled with a superiority comparison, but there
5 was one product that used an active controlled
6 design and did a noninferiority comparison. The
7 primary endpoint was also a responder outcome, and
8 this one was based on vomiting and no antiemetic
9 use over a relevant time period.

10 I've given an overview of what's been done
11 in this area to date, and now I want to shift to
12 some of the issues that we need to consider to
13 guide future studies and development.

14 First, a quick reminder of what we stand to
15 gain by investigating and describing opioid
16 sparing; the first set of potential benefits are
17 for individual patients, and they could include
18 typical opioid-related adverse reactions. Then
19 there are the substantial and far-reaching
20 potential benefits in the realm of misuse, abuse,
21 and addiction, both for individuals prescribed
22 opioids for analgesia, as well as for people who

1 are exposed to opioids who are not prescribed the
2 medication.

3 Having noted the potential for doing a lot
4 of good, it's prudent to also think about what the
5 possible unintended consequences could be. None of
6 these are meant to suggest that we would not want
7 to pursue opioid sparing but rather to point out
8 some things that we should consider as we decide
9 how to pursue studying opioid sparing.

10 First, let's consider if clinical practice
11 in prescribing opioids changes as a result of
12 advances in opioid sparing. If the analgesic
13 benefit of the alternative approaches is not
14 comparable to those of the opioids they are taking
15 the place of, there could be a reduction in the
16 effectiveness of pain management practices.

17 If approaches employ multiple analgesics
18 given concomitantly or consecutively, the risks of
19 each of these analgesics and possible interactions
20 between them will need to be considered. And with
21 the introduction of a novel analgesic, there may
22 also be a new abuse liability introduced.

1 If less opioid is needed to manage a given
2 clinical situation, prescribing will need to be
3 adjusted accordingly to avoid increasing leftover
4 opioids in the outpatient setting.

5 Finally, depending on how we approach
6 describing an opioid-sparing effect, an effect
7 that's measured in studies and described in product
8 labels may not confer the benefit that prescribers
9 and other stakeholders expect or hope to get for
10 patients and for society in a real-world setting.

11 Now, I'll move into some other study design
12 considerations that concern the questions we have
13 for the committee. First, we want to ask the
14 committee to consider possible study designs that
15 could help determine whether a product could serve
16 as a replacement for an opioid in a certain
17 clinical setting.

18 The first piece would be to find and select
19 the study population where opioid-level analgesia
20 is clearly needed. Next, we should consider the
21 control. For a placebo-controlled trial, the
22 comparison will be superiority to placebo on

1 efficacy. We want the committee to discuss whether
2 when studying a population requiring opioid-level
3 analgesia, a comparison to placebo could be
4 adequate to conclude that the intervention could
5 replace an opioid or whether we would also need to
6 see a direct comparison of the intervention to an
7 opioid comparator.

8 If the primary comparison is to be the
9 active comparator, then it would likely be a
10 noninferiority comparison on efficacy. And another
11 possible comparison to make would be to compare the
12 proportion of patients that are not using rescue
13 analgesic medication.

14 Now, I want to briefly say a few things
15 about noninferiority studies since I brought them
16 up. First, a noninferiority margin is needed. In
17 this case, it would be the amount of difference in
18 the treatment effect between the new treatment and
19 the opioid that could be observed and still
20 conclude that the new treatment is noninferior to
21 the opioid.

22 The noninferiority margin chosen affects the

1 sample size needed, and the smaller the margin
2 chosen or the less difference we are willing to
3 accept between the treatments, the larger the
4 sample size that will be needed. And finally, a
5 potential problem with having only an active
6 control and no placebo control is establishing
7 assay sensitivity.

8 Even with opioids, the observed treatment
9 effect in some types of pain studies has not been
10 consistent. In a noninferiority study, if a
11 difference is not observed between the active
12 control and the new treatment, it could be because
13 both treatments are successful in managing pain or
14 it could be that neither treatment has been
15 successful in managing pain in the study.

16 I'm going to say a few more things about the
17 type of study that we've been more familiar with
18 and that I've discussed today, first in the
19 literature and then in the relevant approved
20 products.

21 The ones that we've already looked at were
22 by and large placebo-controlled trials with opioid

1 available as analgesic rescue, a primary
2 prespecified superiority comparison on pain, and a
3 secondary comparison on an opioid rescue outcome.

4 For completeness, I'd like to point out that
5 another possibility would be to do an
6 active-controlled trial with an opioid to
7 demonstrate noninferiority on pain and superiority
8 on an opioid rescue outcome.

9 Finally, the study can be designed to
10 measure or compare clinically relevant differences
11 in opioid-related adverse reactions. We'd like the
12 committee to discuss where this should fit into the
13 objectives of these studies and how such study
14 results should be described.

15 The study design, particularly whether there
16 is an active comparator, will directly affect the
17 analgesic rescue and potentially the blinding and
18 feasibility of the study. I'd like to make a few
19 points about opioid rescue use for consideration.

20 First, rescue analgesic use can reduce assay
21 sensitivity. One of the ways we address that in a
22 study of acute pain is to take pain intensity

1 measurements prior to the patient receiving a dose
2 of rescue medication. Another possibility is to
3 use an integrated assessment of pain and rescue
4 analgesic use.

5 The next point is that opioid patient-
6 controlled analgesia is often used, and it can
7 overshoot a patient's pain symptoms and not always
8 be well correlated with pain scores.

9 Finally, I'll note that in a study where the
10 goal is to show that an intervention can replace an
11 opioid, designing the study with adequate rescue
12 analgesic medication could be challenging.

13 On this slide, I've just listed a few of the
14 non-opioid drugs or drug classes that could be
15 considered for use as analgesic rescue in studies,
16 and then lastly, I'll touch on possible approaches
17 to defining outcomes that could be used to
18 characterize an effect on opioid consumption.

19 One possibility is to only describe
20 differences in consumption that have been
21 associated with a clinically important outcome. In
22 this scenario, a small difference in mean

1 milligrams of opioid used, for example, would not
2 be described if it hasn't been shown to be
3 correlated with lowering a risk associated with
4 opioids.

5 Another possibility would be to use a
6 dichotomous outcome of opioid use, which we've seen
7 in some of the product labels that I have
8 discussed. There is a broad range of possibilities
9 such as whether a patient used an opioid for
10 analgesia at all, or in a certain time period, or a
11 certain clinical setting.

12 Then finally, we'd like the committee to
13 consider a scenario where any difference in opioid
14 consumption can be described in a product label,
15 and prescribers can interpret how clinically
16 important it is in their own patients and practice.

17 One potential benefit of not defining a
18 threshold for when an effect can be described is
19 that they all can be described, and if larger and
20 more beneficial effects continue to come through
21 innovation over time, the effects would be
22 available for comparison in improved products.

1 Another possibility is to measure opioid-
2 related adverse reactions. As I've shown you,
3 there's precedent for defining a clinically
4 meaningful effect on nausea, vomiting, and on
5 constipation. And here, I've listed a few other
6 adverse reactions, though not exhaustive, that may
7 be able to be measured in a clinical trial.

8 In summary, there may be substantial
9 benefits for individual patients and for public
10 health and society in general to studying and
11 describing opioid-sparing outcomes. To date, there
12 is the most experience with studies that measure
13 differences in opioid consumption between an
14 intervention group and a placebo control group, and
15 there is precedent for describing study results for
16 these types of studies in product labeling.

17 There's also precedent for defining a
18 clinically meaningful effect on an opioid-related
19 adverse reaction, and then there are the other
20 potential study designs that we'd like the
21 committee to consider and discuss today.

22 Having said that, it's also important to

1 consider what other impacts describing these
2 effects could have. Thank you.

3 **Clarifying Questions**

4 DR. BATEMAN: Thank you.

5 Are there any clarifying questions for the
6 FDA speakers? Please remember to state your name
7 for the record before you speak. If you can,
8 please direct questions to a specific presenter.
9 We only have about 25 minutes, so if people could
10 limit to just one question on the initial round,
11 and then we'll come back to you if you have
12 additional questions.

13 Dr. Higgins?

14 DR. HIGGINS: Jennifer Higgins. This was a
15 question for Dr. Horn. In your review of
16 literature, did you come across any studies of
17 specific procedure types that maximally benefit
18 from certain modalities?

19 I'm thinking about feature studies, and I
20 think that would be an area where there would be
21 need, but I'm just wondering if you had come across
22 anything.

1 DR. HORN: Could you be more specific with
2 what modalities you're referring to?

3 DR. HIGGINS: So different therapeutic
4 modalities.

5 DR. HORN: I think there was a pretty broad
6 range of interventions, and it included things like
7 multimodal therapies. So I do think that there's a
8 pretty broad range out there of interventions that
9 are being studied.

10 DR. BATEMAN: Dr. Suarez-Almazor?

11 DR. SUAREZ-ALMAZOR: Yes, Maria Suarez-
12 Almazor. Most of the whole review has been on
13 reducing aspects of opioid use, but you're also
14 mentioning potential trials and replacement for
15 opioids.

16 There were no trials that actually looked at
17 replacement for opioids in this setting or they
18 just have not been covered in this presentation?

19 DR. HORN: No. As far as approved products
20 that have that as a claim, there are none. And in
21 terms of the literature review, really, all of the
22 study designs were such that there was opioid

1 available for rescue. So there was not a design
2 that was intended to have a patient get no opioid
3 and just have an intervention with that opioid.

4 DR. SUAREZ-ALMAZOR: Okay. But there was no
5 parallel design that allowed for opioid rescue even
6 in patients who are taking a fixed dose of opioids,
7 compared to another comparator?. That hasn't been
8 looked at?

9 DR. HERTZ: That would effectively be more
10 of a standard-of-care comparator. For instance,
11 the studies for the parenteral ibuprofen and
12 acetaminophen, the studies were more of an add-on
13 design, where we looked at -- let me take a step
14 back for a moment.

15 Demonstrating efficacy for certain products
16 is always a challenge, so if you want to look at
17 the efficacy of parenteral acetaminophen, for
18 instance, it's hard to find a situation where
19 someone would use acetaminophen, alone perhaps, in
20 a parenteral form where it would make sense.

21 So if you look at it in a perioperative
22 setting where it might be useful for providing

1 additional analgesic benefit, it can be hard to
2 show a difference in pain scores, so in some
3 studies, it's hard to know how to actually
4 demonstrate the effect.

5 In the study that Dr. Horn described, we did
6 look at the amount of opioid that was not being
7 used, or the difference in the amount of opioid
8 being used. In that study, there was opioid use in
9 the comparator, but it wasn't a fixed dose. But
10 there was enough opioid use in both treatment
11 groups that they could look at the amount of
12 difference.

13 DR. SUAREZ-ALMAZOR: Okay. But for example,
14 you have tooth extraction as a big area of use of
15 opioids. There's no comparative study comparing
16 opioids to a non-opioid analgesic at all? That
17 does not exist.

18 DR. HERTZ: The problem with comparative
19 studies is when we have a novel analgesic being
20 developed, we always ask for comparative study. We
21 want to know how the product compares to known
22 analgesics. We think it would be very informative

1 for prescribers to have a sense of where a product
2 fits in, aside from opioid sparing as a concept;
3 just how does the drug work relative to known
4 entities.

5 It's very hard to convince anyone to do that
6 type of study. So we don't typically get
7 comparisons to that type of active comparator in
8 registration studies. A lot of times, it is
9 against placebo with opioid rescue, but it's not
10 getting to exactly what you're looking for.

11 DR. HORN: Were you also asking about the
12 literature? The literature, we just looked at
13 recent publications just to give an idea of what
14 was being studied. So I can't really speak to
15 things that were published 10 years ago.

16 DR. BATEMAN: Dr. Floyd?

17 DR. FLOYD: These are related questions on
18 study design for Dr. Horn and Dr. Hertz. I
19 understand these are placebo-controlled trials.
20 I'm trying to understand if other background
21 therapies were in the protocols.

22 For example, in a trial of an NSAID where

1 all participants are given acetaminophen or topical
2 anesthetics, for a trial of a topical anesthetic or
3 a local anesthetic, did everyone receive NSAIDs?
4 Or did the patients in the placebo arm truly
5 receive nothing except for an opioid rescue?

6 DR. HORN: For the approved products that I
7 went over, the norm was not to have any background
8 therapy. It was to have some type of opioid rescue
9 in the placebo group, but it was substantial. So
10 for the morphine patient-controlled analgesia, if
11 you want to see my slide where I put the
12 quantities, the average -- let me just check. I
13 can ask them to put it up.

14 Slide 35. You can see that the consumption
15 was fairly significant, so the mean morphine
16 consumption on the PCA was 57 milligrams,
17 56 milligrams.

18 DR. FLOYD: Related to that, I'm trying to
19 think if the reduction in opioid use captures
20 anything other than the analgesic properties of the
21 drug. Does the FDA think that that measurement
22 captures anything other than the analgesic

1 properties, or is there something that I'm missing?

2 DR. HERTZ: For these studies, it was just
3 used as another way to assess the analgesic effect
4 of the study drug, and that's why, in the labels as
5 described, the clinical relevance of those
6 differences is reported as unclear or unknown.

7 DR. BATEMAN: Dr. Rosenberg?

8 DR. ROSENBERG: One of the problems in pain
9 management post-surgery is that we have patients
10 who are comfortable at rest, but then when you try
11 and get them up for PT or they get up for the
12 bathroom, they have extreme pain. And some of the
13 methods for treating pain might be effective for
14 at-rest pain, and that you gave them more so that
15 they would have less pain for movement, that they
16 would be sedated inappropriately for when they're
17 not moving. But some of these agents may have the
18 ability to work and not cause the side effects.

19 How would you describe that, if I'm not
20 getting into the language issue? And is there any
21 labeling that could be advantageous to the
22 practitioner to cover this situation?

1 For example, in orthopedic surgery, a lot of
2 times the nurses will give the patients pain
3 medicine before they go off to physical therapy.
4 So that use is something that, if we could provide
5 practitioners, might make a difference.

6 DR. HORN: I mean, that's a very interesting
7 idea. What is the question; whether we're
8 interested in something like that? I think we
9 would be.

10 DR. ROSENBERG: There is static versus
11 mobile pain control. So you could argue that some
12 of the non-steroidals, acetaminophen, regional
13 anesthesia, have the potential to provide good pain
14 control with movement while the opiates are good at
15 rest, but not so good at movement.

16 DR. HERTZ: This is Sharon Hertz. We
17 actually have studies in a different setting where
18 we look at weight-bearing pain on movement; for
19 instance, if somebody wants to study an ankle
20 sprain.

21 So if somebody felt there was a drug that
22 could address that particular aspect of pain with

1 or without others, there is a mechanism to study
2 that, and we could describe it in labeling.

3 DR. BATEMAN: Dr. Lorenz?

4 DR. LORENZ: I think what you are asking,
5 Jack, is also whether there's a corresponding
6 measure of function for the inpatient setting.
7 This applies, in a general sense, not just to
8 patients undergoing surgery, but patients
9 undergoing medical treatment who are elderly and
10 whose discharge can be delayed because of delirium.

11 So it's striking that the studies don't look
12 at those kinds of outcomes, but surrogates can be
13 days to discharge or where a patient is discharged.
14 There are a lot of ways of thinking about that. As
15 a person who cares for elderly patients, it's a
16 common complication of opioids.

17 DR. HERTZ: When we talk about opioid
18 sparing with respect to adverse event profile, that
19 could be something that would be very useful to
20 know. If you can reduce the need for
21 centrally-acting analgesics or for opioids in the
22 elderly, that's exactly one example that I think

1 could be very useful to understand.

2 In terms of functional measures in the post-
3 operative period, we struggle with that because we
4 do think it's important. We see a lot of patients
5 who have a variety of orthopedic surgeries used as
6 models for studies. And if you have thoughts on
7 what could be a good functional outcome, time to
8 discharge is interesting, but what about falls?

9 So overall, they may be discharged early,
10 but maybe there's more falls in that group as well
11 so that you get an outlier group. What we need is
12 a way to look at it in a clinically relevant
13 setting for each, I think, clinical situation.

14 DR. BATEMAN: Dr. Rosenberg?

15 DR. ROSENBERG: Dr. Rosenberg. You have to
16 look at that, though, dynamically because
17 immediately post-op, you have different functions
18 that are really important. For example,
19 respiratory function starts to take predominance,
20 or if there's a circulatory problem, those issues
21 take predominance. But later on in the recovery,
22 there are other functions that may be predominant,

1 urinary function, constipation, that they don't
2 have confusion, which can develop in delirium.

3 So the recovery process is dynamic and it's
4 by operation, that the orthopedic procedures tend
5 to leave the GI system alone. They leave the
6 respiratory system alone, while the intra-abdominal
7 or transthoracic procedures impact those functions
8 quite a bit.

9 So what you're asking, yes, we can monitor
10 it, but it would have to be in huge population
11 studies. And there's not a reasonable size
12 population that we could ask a developer to come
13 forth with that, that's going to be able to monitor
14 to the extent that we would really like to see, to
15 look for all these function returns.

16 DR. BATEMAN: Mr. O'Brien?

17 MR. O'BRIEN: Joe O'Brien. I have just a
18 clarifying question for Dr. Mundkur on slide 9.
19 I'm not familiar with the Sentinel Distributed
20 Database. I was just interested in the
21 classification of spinal fusion by levels primarily
22 in terms of its utilization for opioids. There's a

1 vast difference by age.

2 Does that database break out by adolescents
3 versus adults, or even primary versus revision
4 surgery?

5 DR. MUNDKUR: Yes. We do have an
6 age-stratified analysis as well. We haven't
7 presented those data. We didn't look at the
8 pediatric population in this round of analyses, but
9 we agree that age is a critical factor as is the
10 number of levels in the case of spinal fusion.

11 MR. O'BRIEN: Thank you.

12 DR. BATEMAN: Dr. Budnitz?

13 CAPT BUDNITZ: Dan Budnitz. In some
14 previous presentations, we heard about screening
15 tools to identify motivating tendencies toward
16 opioid initiation. Is that something that's done
17 in these other studies of other analgesics or other
18 opioid trials, or was that done in some of these
19 opioid-sparing studies?

20 DR. HORN: We have been seeing some of that
21 in the submissions that we've been receiving, but
22 the things that I put up there were approved

1 several years ago, those products. I don't know
2 for sure, but I doubt it. I think it's becoming
3 more frequent that we're seeing it and more
4 recently.

5 DR. HERTZ: We do have a lot of studies that
6 really exclude patients who have a history or
7 propensity for substance use because that could
8 cloud the assessment of analgesic efficacy if
9 they're using opioids for other purposes, which is
10 a problem in other settings in terms of
11 understanding the overall risk of the product.
12 It's multilayered, as you can imagine.

13 DR. HORN: One other thing, I will say that
14 when we review study protocols, if we see that
15 people are being excluded for psychiatric
16 conditions, we always think about whether it's
17 really appropriate to exclude them because we want
18 to generalize as much as we can.

19 DR. BATEMAN: I have a question. Have you
20 generated guidance regarding the use of patient-
21 reported outcome measures, or have you seen
22 patient-reported outcome measures in the

1 submissions you've been receiving, and which ones
2 are people using?

3 DR. HORN: I just want to clarify. Are you
4 asking about adverse reactions that are opioid
5 related?

6 DR. BATEMAN: Yes, or some of the scales
7 like the overall benefits of analgesia, quality of
8 recovery, things like that.

9 DR. HORN: Yes, we do see them, but we have
10 not seen them -- generally, they're not primary or
11 key secondary outcomes. So they generally aren't
12 included in the analysis plan as something that's a
13 key outcome.

14 DR. BATEMAN: Dr. Lorenz?

15 DR. LORENZ: I was just going to follow up
16 on the question about what other inpatient outcomes
17 might be important to consider. I would just say,
18 somewhat speculatively, that I would wonder about
19 the appropriateness of something like delirium,
20 especially in older adults. Of course, it's
21 multifactorial, but because medications play such
22 an important role, and it's so common in older

1 adults, I would think of it as an outcome with a
2 great potential importance. And although it's not
3 the same as function, it definitely significantly
4 impacts patients' ability to participate in things
5 like rehabilitation, so there are many reasons it
6 would be important.

7 DR. BATEMAN: Are there any other clarifying
8 questions? Dr. Higgins?

9 DR. HIGGINS: Jennifer Higgins. It seems to
10 me that we need a validated composite scale of
11 opioid-related adverse events. Did you come across
12 anything like that in the literature review? It
13 seems to me, before we move to opioid-free
14 treatment, that would be something that we'd want
15 to start with.

16 DR. HERTZ: This is a challenging question
17 that's been something we've been discussing with
18 companies, how to look at opioid-associated adverse
19 events. I think the scale that's been proposed
20 most often is the Opioid Distress Symptoms Scale.

21 We've been somewhat reluctant to look at
22 multi-domain scales here because it's hard to know

1 what's driving the outcome, and we think that's
2 important. But as we move forward, we'd like to
3 hear thoughts about that. If anyone's familiar
4 with a particular tool, I think it would be useful
5 and maybe adequately validated.

6 DR. BATEMAN: Dr. Suarez-Almazor?

7 DR. SUAREZ-ALMAZOR: Very quickly, just a
8 question that I asked from industry before and that
9 I was wondering. In these studies and in the way
10 that you foresee these clinical trials to be
11 performed, how was the rescue dose of opioids
12 established? Is this a pragmatic approach where it
13 is on demand, or is it, if pain is more than 5 over
14 10 on a scale, then a rescue doses are
15 administered?

16 DR. HORN: For the patient-controlled
17 analgesia, it's on demand, and that was most of the
18 acute pain trials. For the as-needed ones, often
19 there is a criterion for administering it in the
20 acute pain trials. Then the other two products
21 that were in chronic conditions, that is much more
22 a patient decision because it's being taken on an

1 outpatient basis and chronically.

2 DR. BATEMAN: Any other questions?

3 (No response.)

4 DR. BATEMAN: In that case, we'll break for
5 lunch. We'll reconvene again in this room one hour
6 from now at 1:25. Please take any personal
7 belongings you may want with you at this time.
8 Committee members, please remember there should be
9 no discussion of the meeting during the lunch
10 amongst yourselves, with the press, or with any
11 other members of the audience. Thank you.

12 (Whereupon, at 12:21 p.m., a lunch recess
13 was taken.)

14

15

16

17

18

19

20

21

22

A F T E R N O O N S E S S I O N

(1:26 p.m.)

Open Public Hearing

DR. BATEMAN: I guess we'll get started.
We're now going to have the open public hearing session.

Because the Food and Drug Administration and the public believe in a transparent process for information gathering and decision making, to ensure such transparency at the open public hearing session of the advisory committee meeting, the FDA believes that it's important to understand the context of an individual's presentation.

For this reason, FDA encourages you, the open public hearing speaker, at the beginning of your written or oral statement, to advise the committee of any financial relationship that you may have with industry. For example, this financial information may include industry's payment of your travel, lodging, or other expenses in connection with your attendance at the meeting.

Likewise, FDA encourages you, at the

1 beginning of your statement, to advise the
2 committee if you do not have any such financial
3 relationships. If you choose not to address this
4 issue of financial relationships at the beginning
5 of your statement, it will not preclude you from
6 speaking.

7 The FDA and this committee place great
8 importance in the open public hearing process. The
9 insights and comments provided can help the agency
10 and this committee in their consideration of the
11 issues before them. That said, in many instances
12 and for many topics, there will be a variety of
13 opinions.

14 One of our goals today is for this open
15 public hearing to be conducted in a fair and open
16 way, where every participant is listened to
17 carefully, and treated with dignity, courtesy, and
18 respect. Therefore, please only speak when
19 recognized by the chairperson. Thank you for your
20 cooperation.

21 Will speaker number 1 step up to the podium
22 and introduce yourself? Please state your name and

1 any organization you are representing for the
2 record.

3 DR. SINGLA: I'm not sure of the order,
4 frankly. I'm Neil Singla. I am a speaker today.

5 DR. BATEMAN: No. You're speaker 3.

6 Will speaker 2 step up to the podium and
7 introduce yourself?

8 (No response.)

9 DR. BATEMAN: Will speaker 3 step up to the
10 podium and introduce yourself?

11 (Laughter.)

12 DR. SINGLA: I told you. I told you I was
13 speaker 1. No. Thank you.

14 Yes, I'm pleased to be here today. My name
15 is Neil Singla, as I said. And what I would like
16 to do today is just kind of go over some background
17 on opioid-sparing outcomes and share some
18 information with the committee to help with its
19 deliberations later today. This is a topic that
20 has been of academic and scientific interest to me
21 for my whole career, so I'd like to provide some
22 information that will hopefully be helpful.

1 First, I'd just like to go over my biography
2 really quick. I am the founder and CEO of Lotus
3 Clinical Research, which is an analgesic research
4 site and CRO in California. I'm an
5 anesthesiologist by training. My main academic
6 interest has been to understand and analyze how
7 variability in analgesic clinical trials can be
8 reduced, so I'm basically a clinical trialist. I
9 design clinical trials.

10 In my role as a CEO for Lotus Clinical
11 Research, I work with multiple drug companies, many
12 of whom have applications in front of the FDA
13 currently. My travel was not supported by any
14 company today. Here, posted in the public docket,
15 are the companies that I've worked with recently.

16 So that being said, starting here with the
17 first slide, I wanted to talk about the value to
18 drug developers of an opioid-sparing label claim
19 and why what you're doing today is so important.

20 Historically, the information about opioid
21 sparing has been buried in the clinical sections of
22 the label for very good reasons. But because there

1 haven't been clear label claims around opioid
2 sparing, what has happened is that developers have
3 stopped pursuing in their clinical trial designs
4 the very things that are being talked about today
5 because there's been a really nebulous path forward
6 for them as far as achieving a label claim.

7 So I guess the point is that the work that
8 the committee is doing and will do in the afternoon
9 is very important, and hopefully the outcome from
10 that discussion will be some concrete
11 recommendations so that developers can follow those
12 recommendations and get label claims.

13 It's kind of a chicken-or-egg phenomenon.
14 If the expectations aren't laid out, a lot of times
15 people won't even try in their clinical trial
16 designs to achieve those outcomes. And of course,
17 the purpose of the label claims is to inform
18 prescribers of what will happen with their
19 patients, so for both of those reasons, this
20 committee's work is very important.

21 I'll say that for the last 20 years, I've
22 done probably 500 studies as an investigator on

1 analgesic drugs, and we've been looking to
2 understand how to get label claims, so we've had a
3 long history of failures. I'm going to go through
4 about 4 or 5 slides describing what we've tried,
5 and what hasn't worked, and what has worked, and
6 hopefully that will help the committee to some
7 degree.

8 Why do we have this long history of
9 failures? First of all, as the first bullet point,
10 as was discussed earlier today, there are only
11 probably about 4 drugs that have any kind of label
12 claim information in them in acute pain, and most
13 of that information is incomplete and has lots of
14 caveats.

15 The agency has rightly required robust
16 evidence, and what has been required so far -- and
17 again, I'm saying correctly required -- has been
18 replicate evidence in multiple trials with
19 prespecified endpoints that achieve significance,
20 measured with a validated scale. That has been the
21 benchmark so far from the FDA, which again is
22 appropriate, but very difficult to achieve.

1 Why is it difficult to achieve? There are
2 two main problems. A typical acute pain study is
3 100 patients per arm, and if you look at any one
4 opioid-related symptom, only about 30 percent of
5 patients will have it in a normal perioperative
6 environment.

7 So studies are currently designed with a
8 regulatory endpoint in mind, which is efficacy, so
9 you can achieve efficacy endpoints with 100
10 patients per arm in an analgesic clinical trial.
11 However, if only 30 percent of those patients are
12 going to experience an opioid-related adverse
13 event, then you only have 30 patients in each arm
14 that you really have to compare to one another.

15 Therefore, getting a statistically
16 significant result with those 30 patients is hard,
17 regardless of which efficacy endpoint you choose or
18 ORAE, let's say, nausea, vomiting, constipation,
19 whatever. It's just hard to power it properly. So
20 it's a powering problem, number one.

21 The second major problem, which I'll go
22 through, is that there are not validated scales for

1 most adverse events of interest to opioids, and
2 that argues potentially for a composite endpoint,
3 which we can talk about also.

4 I'm going to go through 5 adverse events and
5 our experience with them. First, nausea, nausea is
6 a good one because it's problematic for patients,
7 so they care about it a lot. It's frequent in the
8 post-operative course. We know how to predict it
9 with the APTHAL [ph] rating scale, so we know who's
10 going to probably get it. As I said, it's
11 clinically relevant and commercially important
12 because of its clinical relevance.

13 The reason that you haven't seen label
14 claims for nausea -- I think out of all of the
15 label claims, this is the one that you could
16 actually interrogate -- is because studies are
17 underpowered. Like I said, it's a 30-patient-per-
18 arm problem, and they haven't been prespecified,
19 et cetera. So that's the problem with nausea.

20 I will say, based on one of the
21 presentations earlier that listed 4 or 5 drugs,
22 including Emend, et cetera, that had appropriately

1 interrogated the question of nausea, that is true,
2 but those agents were primarily for the treatment
3 of nausea. And therefore, those clinical trials
4 were designed and powered with those endpoints in
5 mind.

6 This is a different situation when you look
7 at opioid sparing because these studies are powered
8 for efficacy at the primary agent versus placebo.
9 So you just have to try to win on this secondary
10 endpoint, which most people don't experience, and
11 that's really what the genesis of this whole
12 problem is.

13 The other thing I'll say about nausea, the
14 one good thing about it is, the patient-reported
15 outcome in nausea, the NNRS, the Nausea Numeric
16 Rating Scale, has been validated because other
17 people had that primary endpoint like Emend,
18 et cetera. They had to go through the process of
19 scale validation because that was an important
20 endpoint for them, and that hasn't happened with
21 other opioid-related adverse events.

22 Vomiting is another good one, and just

1 quickly, it has the same problems as nausea. The
2 only difference is that vomiting is easily
3 quantifiable. It's not a patient-reported outcome.
4 It's an observable outcome, measurable outcome; did
5 you vomit? Yes/No.

6 Respiratory depression, this is a tough one.
7 There was recently an advisory committee on this
8 issue for a drug that was trying to demonstrate a
9 respiratory depression endpoint. The one thing
10 I'll just say to the committee, so that you can
11 consider it, is if you're doing an efficacy study
12 for a novel analgesic agent, which is what all of
13 these agents are -- they're not agents to prevent
14 respiratory depression like naloxone. That's a
15 clinical trial you can do, and it's very easy to
16 do. This is a clinical trial on an analgesic agent
17 to show that that agent itself is better than
18 placebo.

19 So then you have a 30 percent reduction in a
20 morphine arm that's appropriately used between two
21 different arms of patients that are getting hip
22 replacements. It's very difficult to show the most

1 clinically relevant endpoint for respiratory
2 depression, which is apnea, severe hypoxia,
3 et cetera, because the incidence of those problems
4 is so low.

5 So this is the problem with the respiratory
6 depression endpoint, but it is the most clinically
7 relevant and most important in our perioperative
8 environment, I would argue as an anesthesiologist,
9 because it's the most dangerous.

10 Constipation. The drugs also that were
11 mentioned in the presentation for constipation did
12 in fact show differences, but those were, again,
13 primary agents for the treatment of opioid-induced
14 constipation, number one; and number two, they were
15 in chronic pain populations.

16 In acute pain populations, it's really
17 difficult to show a difference in constipation just
18 because patients who are in an inpatient
19 environment, whether they get opioids or not, tend
20 to have some degree of ileus. There's been years
21 and years of development and attempts to
22 demonstrate differences in these outcomes, and it's

1 been difficult in acute pain.

2 The last adverse event I'm going to speak
3 about is somnolence. Again, it's really important
4 because we want our patients to be awake, and
5 somnolence leads to lots of downstream problems.
6 But again, it's very difficult to measure because
7 of the measurement error problems. It's really
8 difficult to know how somnolent people are.
9 Sometimes it's the nighttime. Sometimes it's
10 daytime. You walk into the room. You wake the
11 patient up just by the very nature of you being
12 there. This has been our difficulty with
13 somnolence.

14 This is the last adverse event, so at this
15 point I'll talk about what have we turned to.
16 Because of the powering problem, because of the
17 lack of PROs, we've turned to composite scales. I
18 think that composite scales, if the committee
19 agrees that they are clinically relevant -- which I
20 know there's been some discussion of whether they
21 are or not, and I think that's a really good
22 discussion. But if one agrees that composite

1 scales give you a good clinical relevance, then it
2 would be an important, I think, step forward for us
3 to be able to know what the agency thinks of them
4 and which ones we can use.

5 I think the problem with the current
6 composite endpoints is that they're not validated
7 appropriately through the validation portion of the
8 FDA's division, which I don't understand much
9 about. But I think a first step here would be to
10 understand the committee's feeling on whether
11 composite endpoints are clinically relevant and
12 important. Then if they do believe that they are,
13 then I think that this process of scale validation
14 can move forward. And if they believe that they're
15 not relevant, then that process won't move forward.
16 So that's kind of one of my wish-list requests to
17 the committee.

18 I want to spend two slides just speaking on
19 this topic, which is the difficulty of clinical
20 trial design when it comes to these opioid-sparing
21 outcomes, and I think this will help the committee
22 in their future deliberations.

1 Let's use a hypothetical study here, where
2 you're just doing the common pain model, which is
3 bunionectomy, and the endpoint is a summary of pain
4 intensity differences over 48 hours, or really
5 what's relevant about that is that the endpoint is
6 pain. It's about pain. It's not about opioid
7 consumption.

8 You have to show a difference with your
9 potent NSAID, which is your test drug, so you have
10 some kind of new NSAID, let's say, versus placebo.
11 And the difference you're trying to show is the
12 difference in pain. Half the patients will get
13 your drug and half the patients will get placebo.
14 They'll have a bunionectomy, and then that's how
15 the outcome will be determined.

16 Now, because half the patients get placebo,
17 you have to use some type of drug for rescue. And
18 if you're interested in demonstrating an opioid-
19 related adverse event outcome as a secondary
20 endpoint, that drug has to be an opioid. The
21 rescue drug has to be an opioid; otherwise, of
22 course, you won't have any opioid-related adverse

1 events, and the whole thing is nonsensical.

2 Here's the problem and the question that we
3 face as study designers. If in fact your goal is
4 to -- well, before we go there, if you allow the
5 patients to have as much rescue as is possible, as
6 much opioid rescue -- so you give them an opioid
7 PCA and they take a lot of opioid in both arms,
8 then it equilibrates their pain scores so that
9 patients in both arms will equilibrate somewhat to
10 the middle.

11 Now, we've seen that they don't equilibrate
12 all the way, so patients that get an effective
13 drug, even if they have a PCA, still have better
14 pain scores than other patients, but it does reduce
15 the assay sensitivity of the study if your primary
16 endpoint is pain, which it is for regulatory
17 purposes mostly.

18 If, on the other hand -- so if you use very
19 minimal rescue and you say the patients can only
20 have oxycodone once every 6 hours for pain,
21 5 milligrams -- that's in contrast to the PCA
22 example I just gave -- then your pain scores are

1 going to be way different, but your opioid
2 consumption is not going to be that different.

3 This is the problem when designing these
4 clinical trials, is that the regulatory endpoint of
5 pain is in opposition, to some degree, to the
6 secondary endpoint of opioid consumption and then
7 demonstrating differences in opioid consumption
8 between the two arms.

9 This is just an add-on, another thought,
10 which is, again, to the committee's discussions
11 earlier today, that opioid-free patients is a very
12 important endpoint that can be considered. Why?
13 Because it's easily quantifiable from a clinical
14 trial design standpoint. It doesn't interfere with
15 our analgesic endpoint, either, of better pain
16 control. It's not like the opioid-related adverse
17 event problem. You don't need a measurement scale.
18 It's easy to count. Did the patient get opioids
19 after their surgery or did they not?

20 A couple of things I would bring up
21 regarding this opioid-free patient and the things
22 that we need are we need to know what the committee

1 thinks about what is relevant as far as a
2 difference between opioid-free patients in the two
3 arms; so how much opioid free. It's not going to
4 be 100 percent, obviously. And in order to get a
5 label claim, what does the committee think? And
6 these are the guideposts that we as developers need
7 and really help us design our studies and power our
8 studies.

9 Someone mentioned earlier today 20 percent.
10 A difference of 20 percent between the two arms of
11 opioid-free patients is relevant. I think in acute
12 pain, even just looking at the amount of opioid
13 prescriptions, I would say between 10 and
14 20 percent, but that's for the committee to
15 deliberate.

16 The other thing I would say is that in acute
17 pain studies, you cannot achieve opioid-free
18 patients in certain surgical models. There are
19 certain surgeries that are so large that no matter
20 how potent your drug is, you're still probably
21 going to need perioperative opioids.

22 I think there was a slide with the high-use

1 opioid and the low-use opioid surgeries. When I
2 say 20 percent difference, my feeling, of course,
3 is that that 20 percent difference should be in the
4 low-use opioid. Those are the people you can make
5 opioid free. They're mostly outpatient surgeries.
6 And in fact, it's the outpatient portion of the
7 patient's course that's most important when it
8 comes to being opioid free because that's what
9 leads to dispersion of opioids in the community,
10 not the inpatient portion.

11 If the patient gets Vicodin on their post-op
12 day 1 of their knee replacement in the hospital,
13 then that's still happening in the hospital, and
14 those opiates are not getting out in the community.

15 Now, I'm not saying that that exposure to
16 Vicodin while the patient is awake doesn't affect
17 the patient's neurochemistry and is not a problem.
18 I don't know that. But I do know that the
19 prescription doesn't go out there. There's no pill
20 bottle. So again, it's a very complex situation,
21 and I'm just here to provide my thoughts, my
22 personal thoughts.

1 This has been discussed, so I only have two
2 slides left, and I don't think it's really worth
3 going over again. I will say that, just
4 anecdotally, I had a friend who had a bunionectomy.
5 I do a lot of bunionectomy in clinical trials, and
6 she wasn't in a clinical trial. She called me and
7 she said -- it was with a well-respected orthopedic
8 surgeon in our community, and she got 30 Vicodin
9 and 30 Percocet to go home with, which I thought
10 was extremely excessive, as has been discussed
11 earlier today.

12 She called me. We kind of laughed about it,
13 and I said, "Don't take it" only take opioids as
14 you absolutely have to. And 3 days later, she got
15 a car wash, and the pills got stolen out of her
16 car. So they got dispersed into the community,
17 which I think is what happens.

18 This slide also has been discussed. I will
19 say here that we run a summer internship program
20 for kids at our CRO, and one of the things we talk
21 about opioids and what are opioids. One of the
22 problems that I found to be really interesting is

1 we put up on a screen, marijuana, alcohol, Vicodin,
2 Percocet, oxycodone, Tylenol, Motrin. Which of
3 these are opioids? And the kids don't know which
4 ones are opioids and which ones aren't. They know
5 opioids are a problem. They started to hear that,
6 but they don't know what are opioids.

7 When you give an 18-year-old that had a
8 wisdom tooth extraction 20 Vicodin and their
9 parents are telling them to take it because the
10 doctor told them to take it if they have pain,
11 that's really where the problem starts. So there's
12 a lot of public education that needs to be done.

13 In any case, in conclusion, those weren't
14 non-sequiturs, but those are just my feelings about
15 this really serious problem. The problem is really
16 serious and real. We as developers are trying to
17 help solve the problem, and I really appreciate the
18 fact that the committee came together today to
19 contemplate this important subject, because giving
20 us guideposts and goalposts really helps us move
21 the ball along. Thank you.

22 DR. BATEMAN: Thank you.

1 Will speaker number 4 step up to the podium
2 and introduce yourself? Please state your name and
3 any organization you are representing for the
4 record.

5 MS. WALTON: Good afternoon. I'm Ashley
6 Walton. I'm here representing the American Society
7 of Anesthesiologists. No conflicts to report, but
8 I will say I'm sure a few members of this committee
9 are members of ours.

10 The ASA has about 53,000 members, and as a
11 staff member, I work predominantly with our
12 committee on pain medicine, which advises on a lot
13 of the issues that you're discussing here today.
14 In order to be more brief and not repeat a lot of
15 what's been said, I'll just reiterate a few of the
16 points that we think that clinical trials for acute
17 surgical pain should include.

18 Really, our membership thought that the
19 focus should be on patients undergoing elective
20 surgeries and that those trials should focus on
21 utilization of non-opioids and multimodal
22 approaches for post-operative pain management;

1 focus on preventing the transition of acute to
2 chronic pain after surgery; address adverse events
3 related to opioid use in the perioperative period;
4 and study opioid-induced hyperalgesia or OIH.

5 I think a few background points that really
6 bear mentioning is that in the conversations I've
7 heard here today, it's refreshing to hear that FDA
8 has taken up this issue because in explaining I
9 guess the relationship of anesthesiologist to other
10 stakeholders who are part of this broader effort to
11 address the opioid epidemic, I don't think it's
12 always been the most obvious relationship.

13 So as a staffer that's worked with our
14 membership, I feel I've frequently been out in the
15 public, really explaining that the surgical period
16 is an opportunity to address this issue early on
17 and really provide prevention.

18 So again, to get back to these key points
19 about the clinical trials, the multimodal analgesia
20 is really, I think, the bread and butter of what
21 our membership does. We think that trials on acute
22 pain should aim to not only decrease opioid

1 utilization during surgery, but also examine
2 utilization after discharge; so really trying to
3 follow these patients' long-term outcomes down the
4 road and not just short term.

5 Over the last decade, there's been a greater
6 recognition of the problem of transition from acute
7 to chronic pain following surgery, but it's still a
8 pretty big phenomenon. So really, this is an
9 opportunity to get more information and study the
10 specific issue through these trials.

11 I know decreasing adverse drug events have
12 kind of been a sticky topic today, but it's really,
13 I think, an important thing to address in really
14 deciding trial design and what product is
15 effective.

16 Then lastly, opioid-induced hyperalgesia can
17 occur with patient exposure to opioids during
18 surgery and really result in those patients
19 becoming more sensitive to pain. So there are
20 multiple issues that contribute to this problem,
21 but again, clinical trials can shed more light and
22 new information on identifying risk factors for

1 preventing, reversing, and managing OIH.

2 So lastly, just to touch on outcomes,
3 successful clinical trial on acute pain will
4 measure the functional improvement of patients and
5 their quality of life. So to repeat some of the
6 points that were mentioned earlier by others in
7 this room, quality of life is really based on
8 psychological well-being post-surgery, so going
9 beyond whether or not the patient returns to work,
10 but overall mobility and if their life has returned
11 for a large part as normal.

12 So again, thank you for your time today, and
13 we did submit our comments to the docket, so you
14 can take a greater look at those there. Thanks.

15 DR. BATEMAN: Thank you.

16 The open public hearing portion of this
17 meeting has now concluded, and we'll no longer take
18 comments from the audience. The committee will now
19 turn its attention to address the task at hand, the
20 careful consideration of the data before the
21 committee as well as the public comments.

22 We'll now have the charge to the committee

1 from Dr. Sharon Hertz.

2 **Charge to the Committee - Sharon Hertz**

3 DR. HERTZ: I think today we've heard a lot
4 about the importance of reducing the use of opioid
5 analgesics when possible. We've heard about
6 consequences of early exposure. We've heard about
7 the importance of reducing the amount in the
8 community.

9 There are many reasons why this topic is
10 important, and you've also heard that there are
11 many challenges in determining how to best study
12 this. But I think what we'd really like to hear in
13 addition to how to study the problem is what should
14 we be using the term for, because I think if we're
15 going to use the term without understanding a
16 definition, it's going to be very hard to convey
17 useful information both to companies developing
18 products, as well as to physicians and patients
19 once products are approved potentially with this
20 type of claim.

21 So we're going to go through this series of
22 questions, and they're going to be read into the

1 record, so I'm not going to go over them right now.
2 But we very much want to hear your thoughts, and we
3 hope that at the end of the day, we'll have a lot
4 of suggestions to work with as we move forward on
5 developing what opioid sparing means with regard to
6 drug development. Thank you.

7 **Questions to the Committee and Discussion**

8 DR. BATEMAN: So we will now proceed with
9 questions to the committee and panel discussions.
10 I'd like to remind public observers that while this
11 meeting is open for public observation, public
12 attendees may not participate except at the
13 specific request of the panel.

14 So we'll start with question 1. Question 1
15 has 7 parts, and I thought we would break it into
16 4 sections. The question is, discuss how to define
17 a clinically meaningful decrease in opioid use to
18 support an opioid-sparing claim considering the
19 following options.

20 Maybe we could start with parts A and B. A
21 is a statistically significant difference in
22 average opioid use, considering that minor

1 differences in opioid use could reach statistical
2 significance but may not be clinically relevant,
3 and, conversely, that there could be a clinically
4 relevant decrease in opioid exposure for many
5 patients that's not reflected by a substantial
6 difference in mean opioid use between groups. And
7 then of a somewhat related point, reduction by an
8 absolute amount in milligrams of morphine
9 equivalents, for example, or percentage decrease in
10 opioid use.

11 Are there any questions regarding the
12 discussion question posed? Dr. Floyd?

13 DR. FLOYD: Are we just going to go around
14 and give our answers to the questions or are you
15 intending to have discussion beforehand?

16 DR. BATEMAN: So this is not a voting
17 question. This is for open discussion. I thought
18 we would take up A and B, and then we'll do C, D
19 and E together, F and G, for question 1, and then
20 there are two voting questions at the end. But
21 initially, this is just discussion.

22 So if people want to weigh in with what

1 their answer to these questions are or make related
2 points, please do so. So back to Dr. Floyd?

3 DR. FLOYD: Thanks. Before we get into a
4 lot of these pretty technical questions, I wanted
5 to step back a little bit and raise some issues
6 about whether the use of this term "opioid sparing"
7 on a label is appropriate to start out with.

8 I think there are two questions that
9 sometimes get conflated. One is, is there a public
10 health benefit to reducing opioid usage? Clearly,
11 I don't think that's up for discussion.

12 The question we're being asked is, is there
13 a public health benefit to labeling a drug as
14 opioid sparing? And I think that's different
15 issue, and I think the answer may be no. And I
16 wanted to list three reasons why I think that.

17 One is that, in terms of looking at opioid
18 use in a clinical trial after randomization, the
19 determinants are the analgesic effect of the drug,
20 which we care about, is biologically important.
21 There's the use of background therapies, which as
22 we've learned, typically there isn't much

1 background therapy. These are placebo-controlled
2 trials, where the placebo arm is getting no
3 effective analgesic.

4 Then most importantly, there are contextual
5 factors that are completely external to the study
6 design and the biologic effect of the drug. These
7 are the local prescribing practices, the local
8 opioid stewardship, the calendar year the trial is
9 done based on trends, and even things like clinical
10 decision support tools in Epic.

11 Dr. Litman mentioned his experience locally.
12 I've experienced the same thing in my practice in a
13 county hospital, where the house staff practice
14 differently from one year to the next based on what
15 the template orders are.

16 So these contextual factors are really
17 strong determinants of this outcome that make me
18 think that this is not a reliable outcome for which
19 you can make a general statement that generalized
20 to other settings. So that's one concern I have.

21 Another is that it may create incentives to
22 use trial designs that don't really reflect

1 treatment decisions. So I think there are these
2 competing pressures to try to show a reduction in
3 opiate use, which may motivate trial designs that
4 use no background therapy, which in my mind doesn't
5 reflect how we practice.

6 If I have someone who had a tooth extraction
7 or a minor laceration repair, I'm not thinking, do
8 I give them no analgesia or do I give them an
9 NSAID? I give them an NSAID or Tylenol. So I'm
10 not really interested in the analgesic effect
11 compared to placebo in that setting, and I think
12 those are the trials that we're getting because of
13 these perverse incentives.

14 Lastly, I am very worried about unintended
15 consequences, and our patient representative
16 highlighted some. I think that there is potential
17 for inappropriate marketing and prescribing when we
18 label drugs arbitrarily as opiate sparing when that
19 really is just capturing their analgesic effect.

20 We have the recent experience with
21 gabapentinoids, which is a different issue. These
22 aren't drugs that have opioid-sparing labels, but

1 we've seen an explosion in use when the long-term
2 effects of these drugs weren't known at the time of
3 registrational approval, and now we're starting to
4 learn them. So there are real risks from some of
5 the labeling issues that are hard to predict.

6 I do think there is a role for studying
7 these outcomes, however. Dr. Horn raised
8 hypothetical study designs, and I think we like to
9 see active comparator trials. Noninferiority
10 trials are a possibility. They are a weak study
11 design, but you can make a proper inference,
12 especially when you have an ancillary benefit.

13 So you're making an inference that a drug is
14 effective based on information totally external
15 from the study you're doing. That is the weakness.
16 And the reason you do that is because of some
17 ancillary benefit, a reduction in side effects,
18 maybe a reduction in opiate usage. So I could see
19 a setting where it's important to measure that, and
20 that could provide ancillary evidence that a drug
21 has a beneficial risk-benefit profile.

22 Thanks for letting me get my comments out.

1 DR. BATEMAN: Dr. Lorenz?

2 DR. LORENZ: Thanks. I might take a
3 slightly different tact. I'm thinking out loud a
4 little bit, which is always a bit dangerous here.
5 I agree with many of the comments Dr. Floyd made
6 but might think about it a little differently.

7 When I think of unmeasured confounders and
8 contextual factors that affect the outcomes of
9 studies, for me that's an issue of both the stage
10 of science -- in other words implementation versus
11 effectiveness versus efficacy, et cetera -- and
12 also trial design, randomization essentially, too
13 often account for unmeasured factors. I think the
14 hardest issue that you raise is that of how we
15 think about controls.

16 So I think that the studies are important to
17 do, but one of the challenges -- and maybe this
18 partly gets at what you're saying, James, in a
19 different way. I'm not sure we want just
20 opioid-sparing trials. We want opioid-sparing
21 trials and we also want opioid risk reduction
22 trials. And this was discussed in one of our maybe

1 earliest presentations this morning.

2 If I were going to design a labeling
3 strategy, I would not want us to use entirely
4 surrogate endpoints related to some of these
5 important clinical outcomes because of issues like
6 unintended consequences. I think the bar for
7 demonstrating societal benefit is high, especially
8 when the costs of the drugs themselves are very
9 high, which they might well be.

10 I also thought that in the discussion we had
11 today in the preliminary evidence, the context of
12 the trial is also very important in thinking about
13 labels. It's not just opioid sparing or opioid
14 sparing and risk reduction. It's also acute and
15 chronic.

16 So I don't know exactly how many flavors I
17 guess there are around opioid sparing, but it seems
18 to me that the issue of whether it was the drug or
19 the risk/outcome, and whether it was a short-term
20 and/or chronic context in which to understand that
21 were important as maybe dimensions of labeling.

22 DR. BATEMAN: Do other people want to

1 comment on the question that Dr. Floyd raises
2 around the general utility of this opioid-sparing
3 claim concept?

4 DR. ROSENBERG: I'm glad that we're finally
5 getting to the language issue and completely agree
6 with my colleagues here that acute and chronic are
7 separate worlds and really should be discussed in
8 separate entities because they're very different.
9 But I think also, we need to be clear that we have
10 to be precise in our language, that opioid
11 side-effect reduction, opioid risk reduction,
12 opioid adjuncts, opioid replacement, opioid
13 alternatives need to be talked about and not just
14 lumped into opioid sparing.

15 The label may be different depending on the
16 application. Perhaps we could use acute versus
17 minor surgery as a category because some
18 medications may be effective opioid replacements
19 for minor surgery, but they would not be for major
20 surgery.

21 So I would like us to develop a language to
22 describe what opioid sparing really is and to

1 appropriately label the benefits thereof.

2 DR. BATEMAN: Dr. Budnitz?

3 CAPT BUDNITZ: Dan Budnitz. So I'd like to
4 add just a little bit to what Dr. Floyd was talking
5 about. I agree with folks, that everyone has
6 talked about, that there's a difference between
7 acute and chronic indications. There's probably a
8 difference between acute adverse effects, the
9 effect on cumulative dose or time to
10 discontinuation, and we'll talk about that.

11 But I do want to talk about this term
12 "opioid sparing" and follow up with Dr. Floyd
13 saying it should not necessarily be identified in
14 an efficacy trial for the reasons he indicated, but
15 rather maybe in like a phase 4 trial.

16 I think about analogy to the other AC I
17 typically sit on, the EMDAC, and discussion about
18 cardiovascular outcomes trials for indication of
19 diabetes drugs for affecting cardiac outcomes, not
20 just its ability to lower A1c. And those are done
21 with a background of clinical practice.

22 So maybe that could be a way that an opioid-

1 sparing effect or indication could be identified
2 and labeled, but only in comparison with opioids in
3 actual clinical use, with a background of NSAIDs or
4 acetaminophen and this active comparator.

5 DR. BATEMAN: Anyone else want to weigh in
6 on this question? There are clinical contexts
7 where opioids are routinely used. So if an
8 analgesic could demonstrate that's non-opioid in
9 nature to reduce the need for opioids in that
10 clinical context, wouldn't there be utility of
11 alerting clinicians to that potential use of the
12 medication? I guess maybe we can comment on that
13 thought.

14 Dr. Suarez-Almazor?

15 DR. SUAREZ-ALMAZOR: Yes. This is more of a
16 question to the FDA around labeling, and whether we
17 are talking about referring to opioid sparing as a
18 class of drugs or to a line in the description of
19 the drug that says it has been shown that this drug
20 was able to reduce opioid dosage in whatever
21 setting. I think that's different, and I think
22 part of your concern was creating a class of drugs

1 that's considered to be opioid sparing as a
2 definition as opposed to just mentioning the actual
3 results of what the trial shows.

4 For instance, I'm a rheumatologist, so some
5 of the things we look for is quality of life, so
6 you could find a drug that says it improved quality
7 of life. So in the same way, it was able to reduce
8 the dosage of opioids versus creating some sort of
9 label around it. So I wasn't sure exactly what you
10 were thinking of as far as labeling. I mean, the
11 examples that we had, had all different language.

12 That was one comment. Another general
13 comment that someone already made, I'm having some
14 difficulty trying to think about a trial design
15 that would encompass all the different conditions
16 that we're talking about because it's very
17 different, a tooth extraction from major surgery or
18 a knee replacement, where you want someone without
19 pain within 24 hours, to get them out of bed. So
20 I'm having some difficulty as well with that.

21 DR. HERTZ: We have difficulty with that,
22 too, and that's why we're here. This may be more

1 of the beginning of a conversation as opposed to
2 the complete conversation, I'm predicting, based on
3 my AC experience and your comments, where this may
4 be going.

5 So I'm going to turn this back around to
6 you, my committee. Should there be a class of
7 opioid-sparing drugs -- I'm sort of hearing some
8 concern with that -- versus describing what the
9 difference in opioid use was, perhaps in section 14
10 of the package insert, which is the clinical trials
11 section; and I'll throw out there more
12 specifically, or would it be part of the
13 indication?

14 Part of our thinking on this is how do we
15 define all of this. We also worry a lot about
16 unintended consequences. I mean, that's one of my
17 primary jobs, is worrying about unintended
18 consequences. But we also want to provide an
19 environment in which development of products that
20 could lessen, replace, and spare opioids is there
21 for industry. So there must be something -- or
22 must there be something to include in labeling?

1 So I'll turn that back to you. But I think
2 it's all on the table for discussion. And I'm sort
3 of hearing that our discussion might start to inch
4 away from our specific questions, and I'd like to
5 encourage Dr. Bateman to give some leeway to the
6 conversation if it looks like it's productive, but
7 not necessarily quite -- if our questions didn't
8 quite capture it in a way the committee can
9 express.

10 DR. BATEMAN: Okay.

11 Are there other comments around this issue
12 that we're talking about?

13 DR. LITMAN: Thank you. Thank
14 you, Dr. Hertz, for giving us that leeway because I
15 had a bunch of comments here that I'm not quite
16 sure where they fit in the framework. But they do
17 build and in some instances say the same thing as
18 some of my colleagues here have already said.

19 One of the things that has always bothered
20 me about this subject, and some of the other
21 studies that we evaluate here on the committee, is
22 the prospective randomized-controlled study is, of

1 course, the gold standard. We all kind of agree to
2 that. But the problem with that is it involves
3 such a circumscribed set of patients because the
4 most important aspect is to maintain internal
5 validity, but that's not the real world. The real
6 world is external validity. And getting to what
7 Dr. Budnitz, that can only come from phase 4
8 trials.

9 What I fear is that if we're looking at this
10 specific parts A and B here, where you can only
11 approach that -- well, not only, but mainly
12 approach that with a prospective study, we'd be
13 missing many important aspects that might not be
14 valid for different people and different
15 conditions.

16 So I don't think those kinds of studies that
17 we traditionally ask sponsors to submit to FDA for
18 approval -- I think that would be a really tough
19 task to ask our committee, or anybody for that
20 matter, to decide whether or not they truly are
21 opioid sparing.

22 What's better than opioid sparing, though,

1 is better than opioids. There are many different
2 conditions or procedures where the drug in
3 question, if you compared it against an opioid,
4 would work better. There are two that appeared in
5 the FDA's briefing material. One was for
6 endometriosis and one was for prostate cancer. I
7 don't know the literature comparing to opioids, but
8 they don't address pain per se. They address the
9 cause, the mechanism of the pain.

10 The other thing that comes to mind in my
11 clinical practice is children who have urologic
12 surgery will often respond to non-steroidals
13 because of bladder spasms -- what's the other drug
14 for bladder spasms; bethanechol? I'm trying to
15 remember -- whereas an opioid wouldn't help.

16 So getting back to, Dr. Bateman, what you
17 said before, it would be important to the public to
18 let them know that an opioid would not be as
19 effective as one of these other drugs that's
20 specific for the mechanism of pain. I completely
21 agree with that, and I would encourage trials of
22 specific mechanistic drugs against the reason for

1 the pain as opposed to just treating the pain
2 itself.

3 Those of us who have gotten opioids, we know
4 that opioids aren't really good at treating the
5 pain itself. They're really good at making you not
6 mind it as much. Those are my comments. Thank
7 you.

8 DR. BATEMAN: Dr. Michna?

9 DR. MICHNA: I think the term "opioid
10 sparing" is too broad of a brush, and it's similar
11 to my dislike for the whole abuse-deterrent
12 labeling, too. It's the same thing. It really
13 didn't describe the benefit the product brought to
14 it. It was too broad of a thing. I think the same
15 thing exists here with this opioid sparing. It's
16 just too complicated and too broad to provide that
17 kind of labeling.

18 You can see what's happening in other areas.
19 People are taking this public health crisis of
20 opioid abuse, prescription opioid abuse, and taking
21 advantage of it from a commercial standpoint.

22 That's part of my fear here, too, is that

1 giving such a broad labeling claim will put
2 pressure to utilize these agents maybe not always
3 in the best interest of the individual that's going
4 to receive the drug or in the interest of treating
5 the problem in the first place. Those are my
6 concerns.

7 DR. BATEMAN: Dr. Goudra?

8 DR. GOUDRA: Dr. Goudra from Penn Medicine.
9 Just to echo some of the comments that were already
10 made, in order for the term "opioid sparing" to be
11 used on an uniform basis, I think you need to
12 eliminate too many confounding factors when you're
13 designing the trial. For example, it has to be
14 pretty much patients undergoing similar surgery,
15 similar pain intensity, similar background, because
16 that's the only way you can compare is if, say,
17 manufacturers want to use the label "opioid
18 sparing."

19 The second thing is maybe they should also
20 use the sparing in a 20 percent reduction or a
21 30 percent reduction instead of just giving one
22 blanket statement that they are opioid sparing.

1 The next question, if there are two with a
2 different mechanisms of action, is combining two
3 drugs, one at 20 percent, another is 20 percent,
4 are they going to have 40 percent pain reduction?
5 So there's a rule that clinicians might start
6 thinking along those lines, and as a result, yes,
7 there could be unintended consequences, and the
8 patient might suffer.

9 DR. BATEMAN: Mr. O'Brien?

10 MR. O'BRIEN: Joe O'Brien. I don't have any
11 expertise in clinical design, study design, but to
12 me, a clinically meaningful decrease is not
13 necessarily in the opioid; it's in the negative
14 effects of opioid.

15 Last month, we had a potential novel drug
16 that was able to have two different pathways. One
17 would activate the positive aspects of analgesia
18 without having the negative aspects. So I could
19 see that being there, so we may have something
20 that, in fact, has the opioid receptor, but it
21 provides a very important process.

22 But for me, if you're going to have -- I do

1 not like the term "sparing" -- a reduction, or
2 elimination, or something, from a practical
3 perspective, I would say that what's proposed here,
4 B, to me from a practical level is important
5 because what I see is the prescribers are being
6 monitored by the MMEs. The patients are being
7 educated and advised that they have to reduce down
8 their MMEs.

9 So it reflects a very practical real-world
10 pathway, what's happening out there, so to me, it
11 is nice to connect whatever the design is to that
12 real-world impact that's going to go all the way
13 back down to the patient and in between. So I
14 particularly like that measure that's there.

15 DR. BATEMAN: Dr. Budnitz?

16 CAPT BUDNITZ: Dan Budnitz. I would just
17 like to give a response to Dr. Hertz's question
18 about where would information on, quote, "opioid
19 sparing" effects that we talk about appear. I do
20 think the label in part 14 is an appropriate place.
21 That's where, like, for example, I mentioned
22 cardiovascular outcomes trials are described in

1 labeling for diabetes drugs.

2 So the trial that's used to justify this
3 indication is described in section 14, and then one
4 could have an indication that explicitly
5 describes -- for these particular drugs, looking at
6 the label is to reduce the risk of major adverse
7 cardiovascular events in adults with type 2
8 diabetes and established cardiovascular disease.

9 So it could be something similar where it
10 said that spared opioid -- the amount of opioid
11 prescribed or the duration of opioid for patients
12 after this procedure, in this setting. We can talk
13 about -- or maybe a chronic patient in this
14 setting, depending on how the trial was done.

15 So one could use such a term like "opioid
16 sparing" but really would have to define what is
17 meant by that.

18 DR. BATEMAN: So really giving some
19 specificity in terms of clinical context to where
20 the reduction of opioids were demonstrated.

21 Dr. McCann?

22 DR. McCANN: Mary Ellen McCann, Boston. I

1 don't think the term "opioid sparing" helps
2 prescribers at all. I don't think any information
3 is conveyed with those terms. It may help the drug
4 companies market their drugs, and I guess that's
5 important, but as a prescriber, a drug is a
6 painkiller or not a painkiller, an opioid or not an
7 opioid. And every clinical context is a little bit
8 different, and you make your judgments as to what
9 is the best pain management for the patient within
10 the context of the patient's disease and pain
11 state.

12 So I don't think the term "opioid sparing"
13 regardless of how you define it, whether you say
14 it's a 10 percent decrease in the total opioids the
15 patient uses, or 10 percent patients need less
16 opioid, whatever, I don't think it really helps the
17 clinician or prescriber.

18 DR. BATEMAN: Dr. Lorenz?

19 DR. LORENZ: In testing the utility of the
20 definition of "opioid sparing" I was actually
21 pondering whether an antidepressant that addressed
22 depression and pain complaints, for example, would

1 thereby be opioid sparing. Maybe it sounds like a
2 strange question, but actually I think there is
3 some clinical evidence there.

4 One of the other thoughts I had in terms of
5 unintended consequences is this is an area where
6 the pragmatism of the control is actually important
7 because a lot of our opioid sparing -- NSAIDs or
8 gabapentin was mentioned, and in certain clinical
9 situations, they're appropriate and safe.

10 I guess this question, again, isn't just
11 about the analgesic efficacy relative to a placebo,
12 but two drugs in the current armamentarium that we
13 might consider sparing but that are likely not to
14 ever get a label. So I just wanted to throw that
15 out there.

16 DR. BATEMAN: Dr. Floyd?

17 DR. FLOYD: I wanted to follow up on a
18 comment that Dr. Bateman made. I've tried to raise
19 the issue that the prescribing of opioids is so
20 heterogeneous, that the term "opioid sparing" is
21 inherently misleading. And it's also because it's
22 a downstream effect of its analgesic property.

1 It's not an inherent unique property of any drug,
2 so that's fundamentally problematic.

3 But you also I think responded with, well,
4 there are some surgeries or situations where opiate
5 use is uniform. I can imagine for some complex
6 spine surgeries, that may be the case. And I think
7 those would be the settings where, even if you
8 restricted to section 14 in a very precise,
9 descriptive way, you still have to restrict it to
10 those populations where there isn't heterogeneity.
11 So I think that's critical.

12 DR. BATEMAN: So you would see some utility
13 in those clinical circumstances, potentially in
14 those clinical circumstances where opioids are the
15 mainstay of the approach to analgesia.

16 DR. FLOYD: Yes, but it's somewhat
17 arbitrary. I tend to think that older patients
18 undergoing a total hip are all going to get
19 opiates. I just took care of someone in the last
20 few months who needed none, and I was shocked. So
21 there is heterogeneity I think that we can't
22 predict. It's dependent on patient factors,

1 prescriber factors.

2 So I think even identifying those clinical
3 scenarios where opiate prescribing is uniform in
4 the standard may not even be as easy as we think,
5 but even to make those claims in a very specific
6 precise way, we have to restrict the patient
7 populations.

8 DR. BATEMAN: Dr. Higgins?

9 DR. HIGGINS: Jennifer Higgins. I will say
10 straight up that I have real concerns about the
11 lack of efficacy demonstrated via the current
12 research and the movement away from standard of
13 care. If I were thinking about designing trials, I
14 would look toward standard of care being offered in
15 different doses, different dose amounts versus a
16 comparator as an add-on only. I have real qualms
17 as a consumer rep about the thought of offering
18 surgeries or post-surgical care without opioids.
19 That's my bias.

20 With respect to part B of this question, we
21 bantered around a couple of different percentages,
22 10 to 20 percent might be advisable regarding a

1 reduction of opioid use, but I don't think we have
2 hard data on that, and I would be hesitant to come
3 up with an absolute number.

4 Michigan was an encouraging bit of
5 information, but I think more data are needed to
6 answer that specific question.

7 DR. BATEMAN: So several people on the
8 committee raised the idea of unintended
9 consequences if a drug was labeled as opioid
10 sparing. I don't think we've defined what we're
11 envisioning in that regard and with much
12 specificity.

13 Can people comment on potential unintended
14 consequences that could accompany labeling a
15 non-opioid analgesic as opioid sparing?
16 Dr. Goudra?

17 DR. GOUDRA: It could be, for example, if I
18 am talking about, say, combining two drugs,
19 acetaminophen and ibuprofen, you're going to have
20 side effects because of those two drugs to start
21 with, and you might also have a situation that
22 patients and prescribers might be drawn into this

1 false sense of comfort that our patient is not
2 going to have too much pain, and they might suffer
3 in pain because they're not getting sufficient pain
4 relief.

5 DR. BATEMAN: So a neglect of using the drug
6 in place of an opioid leading to inadequate
7 analgesia potentially. Dr. Michna?

8 DR. MICHNA: Yes. There's such zealotry in
9 abandoning opioids I mean, we see it all the time.
10 I had a patient who had rheumatoid arthritis, and
11 he was admitted to the hospital. He's not on
12 chronic opioids and he has 5 flares a year. And he
13 comes in, and he gets his opioids.

14 On the last visit, he did get a shot of an
15 opioid in the ER, but when he went to the floor, it
16 was a new paradigm. There was a team that was
17 sent. We're the non-opioid alternative team, and
18 we're going to talk you through this flare of RA
19 without using opioids. And he was like, "Oh, my
20 God, what are you talking about?"

21 But that's what's going on out there.
22 There's a lack of individualization of care,

1 particularly when it comes to these opioids and the
2 clinical situation. And that's the fear that I see
3 out there, that there's more push to use these
4 alternatives, which is great, but there are
5 situations when they might not be appropriate. And
6 there's the possibility out there that everybody
7 gets so wrapped up in this, they're not looking at
8 the patient, and what that patient needs, and what
9 that patient's telling them. It's kind of a denial
10 of what they're seeing in the rush to use these
11 alternative agents.

12 DR. BATEMAN: Dr. Floyd?

13 DR. FLOYD: So I think I mentioned two
14 classes of unintended effects, and I can elaborate
15 a bit. One is simply widespread use of a drug with
16 unknown long-term effects.

17 Take, for example, NSAIDs. I think we know
18 the side effect profile of most NSAIDs, the common
19 effects, but there are some that have idiosyncratic
20 effects such as liver failure and things like that,
21 things that we really don't know until thousands
22 and thousands of patients are exposed. So we're

1 moving from the use of drugs that are very well
2 studied to drugs that are unstudied, that are
3 typically studied in a few hundred, maybe a
4 thousand people if you're lucky.

5 The other is I think harder to quantify, but
6 it's the incentive for trial designs that are less
7 informative. So as I mentioned, I'm kind of
8 puzzled at the trial design where you're not using
9 some background therapies that are common in use.
10 So while you have some internal validity in
11 evidence of efficacy, there's little translation to
12 effectiveness, I think. So there are some
13 incentives that are hard to quantify, but are real.

14 DR. BATEMAN: Although presumably the latter
15 concern could affect a trial that was just intended
16 to demonstrate its analgesic efficacy. I don't see
17 how it's tied closely to the opioid-sparing claim.

18 DR. FLOYD: You're right. They're together.
19 And again, I think the reduction in opiate dose is
20 simply a downstream effect of the analgesic effect.
21 But if that is a main motivator, trying to get an
22 opioid-sparing indication, you're going for that

1 number, that reduction in dose, so you're going to
2 try to use as few background therapies as you can
3 to maximize the use of opioid rescue. That's
4 simply how you get the maximum effect in your
5 trial. So I think that is an incentive.

6 DR. BATEMAN: Dr. Suarez?

7 DR. SUAREZ-ALMAZOR: I think there is a
8 little bit of difficulty in wrapping around what we
9 are really discussing now. Some of the unintended
10 consequences, they really relate to chronic use,
11 and chronic disease, and chronic pain. But I
12 think, today, our focus is more on acute pain in
13 the post-op setting and how to try to reduce the
14 number of days because we know that more days may
15 lead to chronic use.

16 But I think we need to narrow the discussion
17 to that particular setting because, otherwise, we
18 are going too broad and we're discussing use of
19 antidepressants or gabapentin in the chronic
20 setting. And I don't think that's our purpose
21 today. Correct me if I'm wrong.

22 DR. HERTZ: No, that's right. We're really

1 more focused on the acute setting right now. We're
2 tackling that first.

3 DR. SUAREZ-ALMAZOR: It will be more
4 difficult later on, I guess.

5 DR. BATEMAN: Dr. Budnitz?

6 CAPT BUDNITZ: Dan Budnitz. I think the
7 main unintended consequence is just overuse of
8 this. The good consequence is to encourage
9 development of alternatives. The unintended
10 consequence would be, as mentioned earlier,
11 misunderstanding of what this term means.

12 That's why I think these follow-up next
13 questions about what exactly do we mean by opioid
14 sparing in terms of duration of use, amount of use,
15 opioid free is the real key issue that can
16 hopefully avoid misunderstanding, if we can define.

17 DR. BATEMAN: So maybe we will go back to
18 the question. We're going to discuss how to define
19 a clinically meaningful decrease in opioid use to
20 support an opioid-sparing claim, all of the
21 concerns around opioid-sparing claims aside.

22 So the first two components are a

1 statistically significant difference in average
2 opioid use, and you can read all of the components
3 of that point, and then B, a reduction by an
4 absolute amount or percentage decrease in opioid
5 use.

6 Maybe people can comment on those endpoints.
7 Mr. O'Brien?

8 MR. O'BRIEN: I don't know about those
9 endpoints, but just the last thought, the sparing,
10 I have such a difficulty because, in an acute
11 setting, post-operative, whatever, as we've seen
12 over and over again, there's such variability at
13 the moment. What's standard of care? What are you
14 sparing from? There are a million patients and
15 there are a million different prescriptions that
16 are out there, so I don't know what the base is to
17 say you're sparing from. You could have two
18 doctors that are going to have a 50 percent
19 difference in what they prescribe, so it's very
20 hard to define.

21 DR. BATEMAN: Dr. Suarez?

22 DR. SUAREZ-ALMAZOR: I don't think A is

1 really very useful because a statistically
2 significant difference does not speak at all about
3 a clinically meaningful decrease, so I'm not even
4 sure why it's considered there because that's so
5 dependent on sample size, so it doesn't really give
6 information on what's clinically meaningful.

7 B, I think it's useful because it's
8 quantitative. The problem is that we don't know
9 how that translates into the outcomes that we're
10 interested in, the clinical outcomes, or at least I
11 don't know what's the difference in milligrams or
12 the percentage decrease in opioid use, and whether
13 it depends on how high you start with, whether in
14 that case a percentage is more appropriate than an
15 absolute amount, or whether it's just reaching a
16 threshold where the adverse events at that dosage
17 can be a little bit more limited.

18 So I think it's a good outcome, but I don't
19 know that I for one have the information to really
20 come up with what would be appropriate. And I
21 don't know if there is any data around that,
22 either, from the trials that were presented.

1 DR. BATEMAN: Dr. Shoben?

2 DR. SHO BEN: So I just want to make a quick
3 statistical point, which is to say that this
4 average difference in opioid use, if you're talking
5 about pills going home, could very well be a
6 meaningful question in terms of total number of
7 pills that are going out into the community and
8 reducing that by even a small amount might have
9 important public health consequences

10 DR. BATEMAN: Dr. Lorenz?

11 DR. LORENZ: I have a question because I
12 don't work in acute pain as much as I do chronic
13 pain, or at least my work is more distributed
14 across both settings. But my framework for
15 answering this question is what do we know about
16 thresholds of risk. In the chronic pain
17 literature, there actually are risks across various
18 levels, even though the guidelines pick certain
19 ones that are closer to 1 or 2, where we talk about
20 goals for prescribing, but I don't know if that's
21 true in the inpatient setting.

22 So I'm kind of asking, I think what we heard

1 in terms of evidence and what would make me think
2 is important on the basis of what was presented is
3 the ability to forego opioids in opioid-naïve
4 patients would be a crucial outcome here because
5 it's actually exposure to the drug that I believe I
6 heard was crucial unless someone can correct that
7 impression.

8 DR. BATEMAN: Does anyone want to comment on
9 that point?

10 (No response.)

11 DR. BATEMAN: So the question being raised;
12 is there an evidence base for a meaningful
13 reduction in daily use in milligrams morphine
14 equivalent or a percentage decrease in opioid use
15 that would translate into clinical benefit, or
16 reduction in side effects, or other opioid-related
17 adverse effects? Mr. O'Brien?

18 MR. O'BRIEN: Joe O'Brien. I guess the only
19 thing I would say is that everything that we said
20 just seems to define that less is best. So it's
21 just a matter of less. You know what I mean?
22 That's fairly what it seems to be. There's no real

1 definition that I'm aware of.

2 DR. BATEMAN: Dr. Goudra?

3 DR. GOUDRA: Since the topic for discussion
4 is in the larger context of opioid epidemic, for a
5 second ignoring the utility of using an opioid-
6 sparing definition, I think both parts of A would
7 be relevant. Both would indicate decreased opiate
8 use anyway in some form. And again, B, absolute
9 amount was a percentage decrease.

10 It's kind of a bizarre question. I'm still
11 trying to get my head around it, and I'll just
12 leave it at that.

13 DR. BATEMAN: I am personally not aware of
14 any literature that's clearly demonstrated what are
15 the meaningful differences.

16 DR. LORENZ: I'm just imagining in a
17 critical care setting, where patients are supported
18 in an intensive care unit, that risk means
19 something very different than in an unmonitored
20 setting.

21 Again, when we think about risk, are we
22 thinking about the risk during the hospitalization

1 or the risk down the road? Clearly for the latter,
2 it seemed like exposure alone was significant for
3 some small percentage of patients. But the risk of
4 respiratory failure when you're already ventilated,
5 we're talking about something different.

6 DR. BATEMAN: Other comments on this point?
7 Dr. Floyd?

8 DR. FLOYD: I just wanted to second what Dr.
9 Lorenz said about absence of use, especially in
10 settings where use is uniform and there's not much
11 variability, it's probably a higher priority than
12 differences in dose, so I support that.

13 DR. BATEMAN: Maybe I'll just push back on
14 that a little bit, though. You could imagine
15 scenarios after major surgeries where patients
16 typically require very large amounts of opioids and
17 have pretty significant side effects associated
18 with those, a 50 percent reduction could be
19 extremely meaningful.

20 Other comments? So maybe moving on to C, so
21 a decrease in the duration of opioid analgesic
22 therapy that's required in the inpatient setting;

1 for example, opioid analgesics only being required
2 in the immediate post-operative period.

3 So I guess here the idea is if there was an
4 analgesic that allowed opioids to be given for just
5 a very short time and then were adequate to control
6 post-operative pain, for example, beyond the first
7 day or so after the procedure. Would that be a
8 meaningful endpoint? Dr. Suarez?

9 DR. SUAREZ-ALMAZOR: Yes. I think that's an
10 appropriate outcome. Yes.

11 DR. BATEMAN: Dr. Litman?

12 DR. LITMAN: I agree, especially in light of
13 the evidence that people who tend to stay on the
14 opioids past the acute period are more likely to
15 stay on them for a longer period of time, so I
16 agree.

17 DR. BATEMAN: From the presentations we had,
18 clearly opioids dispensed to patients after
19 discharge have public health consequences in terms
20 of the potential for diversion down the line. And
21 so if there was an analgesic that allowed the
22 patients to just have a very short course of

1 opioids and not require opioids after leaving the
2 hospital, that would be potentially meaningful from
3 a public health perspective.

4 Dr. Lorenz?

5 DR. LORENZ: I was just going to offer that
6 it's hard to comment on this apart from other
7 outcomes that one might envision. So again, part
8 of it is setting, like a dose reduction means
9 something very different potentially in an ICU than
10 in a ward setting, but also, it is particular as
11 well to the goal of the opioid reduction.

12 There's the patient with comorbid ESRD and
13 then there's the patient who's recovering from hip
14 surgery, and those are very different risk
15 situations. We talked a little bit, for example,
16 about function or proxies for function or other
17 kinds of outcomes that might be important.

18 Again, you're balancing analgesia, other
19 goals for the patient, and pain relief is very
20 important in achieving mobility right at the same
21 time that opioids can provide it and take away the
22 capacity for mobility.

1 So it's very hard to think about it in
2 isolation because the reduction in dose by itself
3 could imply a tradeoff in some of those. So you
4 couldn't just measure reduction in dose and be
5 confident that you were achieving it, although I
6 agree with your statement that it's very important
7 in some settings.

8 DR. BATEMAN: Dr. Higgins?

9 DR. HIGGINS: Jennifer Higgins. I agree
10 with it in principle, but I'm just wondering, in
11 practice, how this would be discerned. Again, to
12 sound circular perhaps in my comments, I don't know
13 how we would ever assess this without offering
14 someone nothing in the post-operative period. If
15 an agent did not have the positive effect that we
16 expected, would they have placebo or nothing? And
17 that to me seems scary.

18 DR. BATEMAN: Other comments on these three
19 points?

20 (No response.)

21 DR. BATEMAN: So maybe I'll just try to
22 summarize where I think we are in terms of the

1 discussion so far, which will not be easy. I think
2 everyone acknowledges the need to reduce opioid
3 use, inappropriate opioid use. Many members of the
4 committee were concerned about labeling of
5 medication as having opioid-sparing effects because
6 it's really difficult to define what exactly that
7 means. It's going to be highly context specific
8 depending on the particular surgery that the
9 patient underwent and specific patient
10 characteristics.

11 It's a very broad category, and there's
12 concern that by labeling a medication in this very
13 broad way, it could lead to unintended
14 consequences, including overuse of that medication,
15 using that medication, and decreasing the amount of
16 opioids that the patient's prescribed
17 inappropriately, leading to inadequate analgesia.

18 Some people question whether it would be
19 clinically useful, that it's more important for the
20 clinician to know that the medication has
21 analgesic effects and then they can incorporate it
22 into their analgesic plan for a patient, which may

1 include many different types of therapies.

2 There was some concern around the perverse
3 incentives that going after an opioid-sparing label
4 might introduce into trial design whereby standard
5 approaches to analgesia might be withheld, like
6 NSAIDs or Tylenol, in a way that might not reflect
7 real-world practice and may overestimate the impact
8 of the medication in terms of its opioid-sparing
9 effects.

10 In terms of the specific points raised in
11 the question posed by the FDA, I think many people
12 voiced the opinion that a statistically significant
13 difference alone is not necessarily important. The
14 reduction in absolute amounts, either in milligrams
15 of morphine equivalent or percentage decrease in
16 opioid use, is really hard to define what would be
17 clinically meaningful based on where the evidence
18 is and, again, will be something that's highly
19 context specific.

20 I think people voice the opinion that if
21 there are medications that can decrease the
22 duration of opioid analgesic therapy, that could be

1 impactful. But again, it's going to be very
2 dependent on the clinical circumstance for the
3 patients being treated.

4 Is that a fair enough summary? Any points
5 to clarify?

6 (No response.)

7 DR. BATEMAN: Maybe we'll move on to part D.
8 So I guess these are other potential endpoints, so
9 a decrease in the number of patients who used no
10 opioids in the hospital, even if they're prescribed
11 opioids and discharged for use at home.

12 So I guess the question is, is it meaningful
13 that we can get patients through their inpatient
14 stay without opioids with a particular medication,
15 but if they're prescribed a lot of opioid
16 analgesics at the time of discharge, is that going
17 to be impactful? Anyone want to comment on that?
18 Dr. Suarez?

19 DR. SUAREZ-ALMAZOR: I think for the
20 majority of situations, this probably wouldn't be a
21 primary outcome, and I'm forgetting about the
22 discharge for use at home. Most of these patients

1 are going to need narcotics at some point early on,
2 so that would only be appropriate for a minority of
3 the conditions that we might be interested in.

4 So I think it would be interesting to
5 collect the data, but I would never put that as a
6 primary outcome because it would be very difficult
7 to achieve.

8 The other component -- I don't know. That's
9 a separate outcome, what happens when they are
10 discharged. I don't know why it's joined with
11 that. You have that later on? Yes, the next one.

12 DR. BATEMAN: I'll just offer a comment on
13 part D. So there are several papers in the
14 anesthesia literature where people have looked at
15 the impact of opioid-sparing approaches in the
16 hospital on the outcome of persistent opioid use or
17 time to opioid discontinuation. We did some work
18 looking at open abdominal surgeries, comparing
19 patients who had an epidural, which would be an
20 opioid-sparing approach, to those who didn't. And
21 there have subsequently been papers done in
22 orthopedic surgery, looking at patients who

1 received regional blocks versus not.

2 In all of these papers, the opioid-sparing
3 approaches, the use of those in the hospital, did
4 not impact on how long the patients stayed on
5 opioids after they were discharged, the time to
6 discontinuation, the total amount of opioids they
7 received in the months following surgeries.

8 So I think one explanation for that
9 potentially is that there doesn't seem to be a
10 coupling of opioid prescribing at the time of
11 discharge to what the patient's using in the
12 hospital. And absent that coupling, it's probably
13 not surprising that opioid-sparing approaches in
14 the hospital don't translate into a sustained
15 benefit.

16 Dr. Lorenz?

17 (No response.)

18 DR. BATEMAN: How about part E, the number
19 of patients who did not require opioid analgesics
20 after discharge regardless of analgesic regimen
21 used while hospitalized? Dr. Suarez?

22 DR. SUAREZ-ALMAZOR: I think it's important,

1 but as you say, these will have to be part of a
2 comprehensive care algorithm because if it's
3 dependent on practice patterns or the discharge
4 orders, then it doesn't really reflect the need.
5 It just reflects practice patterns.

6 DR. BATEMAN: Dr. Budnitz?

7 CAPT BUDNITZ: I think I would say that this
8 would be a clinically meaningful outcome.
9 Certainly, it could be dependent on practice
10 patterns. Someone could remain in the hospital
11 post-hip for 4 weeks or something.

12 It seems unlikely, but I think in a phase 4
13 trial, if you had a new therapy and after something
14 like major hip surgery, the elderly population,
15 again, using an active comparator with usual care
16 and one group required on average, whatever, how
17 many doses of opioids and the novel agent had zero,
18 I don't know how you could not justify a claim that
19 that's opioid sparing as defined by at-discharge
20 use of opioids by patients, and narrowly made the
21 indication that it was based on that trial for that
22 indication.

1 DR. BATEMAN: Mr. O'Brien?

2 MR. O'BRIEN: Joe O'Brien. Yes. It seems
3 to me, again, if someone comes forward and has
4 evidence to show a claim, whether that be a new
5 potential drug, or ketamine, or acetaminophen, or
6 others that have been studied in pediatric spine
7 fusions, if that shows that it can reduce it, and
8 particularly if they can go home without an opioid,
9 that's important. So if there's clear evidence for
10 that, I think we should consider that.

11 DR. BATEMAN: Dr. Floyd?

12 DR. FLOYD: Dr. Budnitz, in that situation,
13 would you then describe the effect on the label,
14 though, as limited to that population, so total hip
15 arthroplasty, specifics, things like that?

16 CAPT BUDNITZ: Yes. That's the way it's
17 done, for example, in cardiac outcomes trials,
18 patients with diabetes and these risk factors, use
19 of this drug will prevent these specific cardiac
20 outcomes in these time period.

21 It is different because a cardiac outcome is
22 different than opioid sparing, which one is a

1 physiologic outcome and one is based on basically
2 standards of care, essentially. But I think it is
3 important to encourage alternative therapies, and
4 this might be one way to do so.

5 DR. BATEMAN: Any other comments on part D
6 or part E?

7 (No response.)

8 DR. BATEMAN: So let's take part F.
9 Reduction in opioid-related adverse reactions; for
10 example, nausea, vomiting, constipation,
11 respiratory depression, sedation, and urinary
12 tension, and perhaps at this point, we can discuss
13 some of the composite measures that people have
14 proposed and we've heard about in some of the
15 presentations and whether they may have utility.

16 Dr. Litman?

17 DR. LITMAN: Thank you. I would say
18 absolutely, yes, that this would be an important
19 component. But it leads me down that whole
20 discussion of what we talked about last month, when
21 a drug had potentially less reactions, yet the
22 studies showed that it wasn't quite as potent as

1 the comparator.

2 So I do remember it. We had some
3 discussions back then; well, is that meaningful?

4 I've got to defer to Mr. O'Brien here. If
5 you're going to titrate -- let's take a major
6 surgery like a spine, or hip, or knee -- and you
7 titrate the meds, the opioid, to patient comfort,
8 well, then, the exact dosages may not matter. What
9 matters then are the side effects only if you're
10 titrating to comfort.

11 That's a really difficult concept, and there
12 would have to be some parameters for this
13 committee. And I would imagine the FDA in their
14 approval process, what are those parameters in
15 judging those less side effects? Do you have to
16 prove equipotency, or do you have to just show not
17 necessarily potency on a milligram-per-milligram
18 basis, but patient comfort? And that's sometimes
19 difficult to do.

20 DR. BATEMAN: Dr. Goudra?

21 DR. GOUDRA: Dr. Goudra, Penn Medicine.
22 While at least in the acute post-op setting,

1 there's a problem. In most hospitals, I think
2 almost everybody who undergoes surgery gets some
3 kind of anti-nausea medication. Almost everybody
4 gets Zofran. So that's one. Even in view of
5 constipation, quite a few patients in the general
6 population who might have some form of IBS, or
7 constipation, or whatever.

8 The problem of designing any of these
9 studies is, one, are we going to select the
10 patients who were not prone to post-op nausea and
11 vomiting and to selectively go for those surgeries,
12 and second, in order to do this, are we not going
13 to give any prophylactic, nausea, vomiting
14 medications? And third, once we introduce these
15 opioid-sparing medications, are we going to stop
16 giving these anti-nausea medications?

17 So as a result, I think at least the first
18 three are pretty much out of the window, but the
19 last three -- respiratory depression, sedation, and
20 urinary tension, especially the respiratory
21 depression and sedation -- are very relevant if the
22 opioid-sparing drug can raise the opioid

1 consumption as a way of assessing it.

2 DR. BATEMAN: Dr. Suarez?

3 DR. SUAREZ-ALMAZOR: Yes. I have some
4 difficulty trying to conceptualize a way this is
5 presented as opioid-related adverse reactions,
6 almost like a reduction in the adverse reactions is
7 a benefit or it's a measure of efficacy.

8 To me, adverse events are adverse events.
9 It doesn't matter what drug is causing them, and to
10 me belongs in the section where all adverse events
11 are considered together because you could give an
12 NSAID and, yes, you have less nausea, but you end
13 up having a GI bleed.

14 Again, I don't know if that's the intent or
15 not, but it almost seems like a reduction in
16 opioid-related adverse reactions is considered a
17 beneficial outcome when, to me, it belongs where
18 toxicity belongs in comparison to the other agents
19 that might be used in the other arm.

20 DR. BATEMAN: Yes. I think the notion is
21 that if you did a trial where you were comparing
22 either a new medication in comparison to opioids or

1 looking at the new medication in combination with
2 opioids compared to an opioid-alone arm, if you saw
3 a reduction in opioid-related adverse effects as a
4 consequence of the patients using less opioids, is
5 that a meaningful endpoint?

6 DR. SUAREZ-ALMAZOR: Yes. But any reduction
7 in toxicity is a meaningful endpoint, but it
8 shouldn't be considered a beneficial outcome, the
9 reduction in adverse events. To me, it belongs
10 with the adverse events component on that because
11 you could have a reduction in that, but an increase
12 in other adverse events.

13 I have a problem putting adverse events on
14 the side of benefits. To me, those are risks and
15 they belong in the comparison of risks across all
16 arms of the study.

17 DR. BATEMAN: Dr. Shoben?

18 DR. SHO BEN: Sure. So I just want to agree
19 basically and say that I think -- we had a long
20 conversation in the beginning about language, but I
21 think this is actually even more related to that,
22 and it's even more challenging for me to see a

1 reduction in opioid-related adverse events as an
2 opioid-sparing-type label claim.

3 I think you'd just be arguing that you would
4 have fewer side effects from your new medication
5 rather than this opioid sparing leading to fewer
6 side effects from opioids. That seems even
7 particularly problematic.

8 DR. BATEMAN: Dr. McCann?

9 DR. McCANN: As usual -- Mary Ellen
10 McCann -- I'm thinking concretely, but I'm thinking
11 of ketamine. It's not an opioid, yet it's got a
12 really bad profile in terms of patient comfort in
13 terms of side effects. It does cause some nausea,
14 maybe some vomiting, but the rest of it, it doesn't
15 have.

16 Would that be in contention for an
17 opioid-sparing drug? Is that what the committee
18 thinks?

19 DR. BATEMAN: Dr. Rosenberg?

20 DR. ROSENBERG: I would agree that these are
21 important things, especially if you added confusion
22 to that list. But to me, the ketamine would be,

1 but it would not be opioid sparing. It would be a
2 medication to reduce opioid side effects. It's not
3 opioid sparing.

4 DR. McCANN: It has analgesic properties.

5 DR. ROSENBERG: It does have --

6 DR. McCANN: So wouldn't it spare the use of
7 some narcotics?

8 DR. ROSENBERG: But for testing the
9 analgesia, certainly you can do anything with
10 ketamine. But the particular effects, if they
11 looked for these effects and they were vastly
12 reduced, you could have the verbiage in there that
13 this drug reduced X, Y, and Z. And it's very
14 specific, people know it, and it would be part of a
15 multimodal regimen, which is where it sits in the
16 guidelines.

17 DR. McCANN: I'm still confused.

18 DR. BATEMAN: Dr. Suarez? Any other
19 comments on this section? Mr. O'Brien?

20 MR. O'BRIEN: Joe O'Brien. Yes, I'm always
21 confused. As we saw last month, I think clearly,
22 patient comfort is a very important part. Now, is

1 it a primary endpoint to say it's sparing? No, I
2 would not say that. But I think Dr. McCann's point
3 is very valid. If someone came before us and said,
4 "Listen, we've got this. I can cut it by 20
5 percent, your usage, but your patient's going to be
6 vomiting like crazy and retching out the door"
7 well, that's an important part. You have to
8 consider that.

9 Last month, when we had a particularly new
10 novel pathway that appeared, if it was robust
11 enough, it wasn't, but if it was robust enough to
12 say that it had the capacity to deliver the same
13 analgesia, and it was nontoxic, and it had none of
14 those effects, then I think that's a very important
15 drug to put out there, and the patients would very
16 much appreciate it. It has to be labeled
17 appropriately to what it is and what it's not, but
18 I think those are important factors.

19 To some patients, that's extremely important
20 for a segment of the population. Then for the
21 others that have a particular surgery, those
22 elements are also extremely important to them as

1 they lay on that bed, even if you have a drug that
2 can overcome it in 15 or 20 minutes. But in that
3 15 or 20 minutes, those are important issues for
4 them.

5 DR. BATEMAN: Does anyone else want to speak
6 in favor of the idea that reduction in these
7 adverse reactions could support an opioid-sparing
8 claim?

9 Dr. Hertz, did you want to?

10 DR. HORN: I'll just say one thing about one
11 of the products that I discussed in my talk. In
12 one of those products in the review that is posted
13 online, the reviewer looked at adverse reactions,
14 and these are adverse events measured the way we
15 usually do in clinical trials. It wasn't a
16 prespecified outcome. And in the label, it
17 describes a reduction in milligrams of morphine-
18 equivalent use in the PCA, but then in the review,
19 there were small numbers of patients enrolled, and
20 there was no difference in the adverse events in
21 the typical opioid-related adverse events.

22 So I think that's sort of what we were

1 trying to get to with some of these questions, is
2 what do we do with that kind of information if
3 that's what we get from the trial?

4 DR. BATEMAN: So the notion is that there is
5 a demonstrated reduction in opioid use based on the
6 trial, the way the trial was designed, but perhaps
7 underpowered to detect a difference in opioid-
8 related adverse events or perhaps there was no real
9 difference, and then what does FDA do with that.

10 Anyone want to comment on that? Dr. Litman?

11 DR. LITMAN: Yes. If it's not a primary
12 outcome, and it's not powered to detect it, you
13 can't do anything with it. It's just not right.

14 DR. HORN: Right, but what do we do with the
15 milligrams of morphine difference? We have it in
16 the label as part of section 14.

17 DR. HERTZ: Another way of thinking about it
18 is, if you're trying to determine the clinical
19 relevance of a reduction in the amount of opioid
20 used, could F be a way of defining the relevance?
21 I think that's what we're -- is that a reasonable
22 way to try and define the difference in opioid

1 amounts was clinically relevant?

2 DR. BATEMAN: Dr. Floyd?

3 DR. FLOYD: So I think there's a difference
4 between what to do post hoc from a small trial when
5 you have this finding of a reduction in opiate
6 usage and a non-significant difference in the
7 downstream adverse effects versus giving sponsors
8 guidance on how to power and design their studies
9 to get this broad claim of opioid sparing.

10 Please correct me if I'm summarizing
11 incorrectly, but a lot of the advice has been that
12 it's hard to identify any lines of evidence that
13 support a very broad base, kind of blanket claim of
14 opioid sparing. As far as what to do with these
15 kind of post hoc findings, I don't think there's
16 much you can do than be specific. I think if a
17 sponsor wants to say something about the reduction
18 in dosage, they also have to say that there was no
19 difference in related adverse effects, too.

20 DR. BATEMAN: Does anyone want to comment on
21 the composite measures we heard about, so like the
22 opioid distress scale, or anyone have experience

1 using that in their research and want to comment?

2 Dr. Goudra?

3 DR. GOUDRA: Going back to what I stated
4 earlier, I think respiratory depression and
5 sedation are more easily quantifiable in the
6 post-operative setting, and if any opioid-sparing
7 drug can reduce the incidence of respiratory
8 depression and sedation, I think that would be
9 something quite useful.

10 DR. BATEMAN: Maybe we will go on to G. Are
11 there other criteria for defining a clinically
12 meaningful decrease in opioid use? Dr. Suarez?

13 DR. SUAREZ-ALMAZOR: I think that one of the
14 worries that we have is the persistent use of
15 opioids after discharge. I think measuring whether
16 patients are using PRN or persistent use of
17 opioids, let's say 30 days after it, would be
18 appropriate.

19 DR. BATEMAN: Dr. Lorenz?

20 DR. LORENZ: Sure. To play my broken
21 record, I would just say time to mobilization. I'm
22 not aware of a specific measure that looks at

1 global satisfaction with pain management, but it
2 does seem like some kind of integrated -- actually,
3 better than trying to pre-weight the side effects
4 as the idea of obtaining the data from a patient or
5 maybe their caregiver, challenges with that, but
6 trying to get a global impression of pain
7 management and care.

8 DR. BATEMAN: Dr. Rosenberg?

9 DR. ROSENBERG: Dr. Rosenberg. One
10 criterion might be how fast they get through the
11 ERAS. For each surgery, they have these
12 accelerated recovery protocols, which are surgery
13 specific. So time through these defined protocols
14 could be used as a criterion for effective
15 recovery.

16 DR. BATEMAN: So meeting certain functional
17 targets and --

18 DR. ROSENBERG: Correct, and like I say,
19 it's surgery specific, which makes sense.

20 DR. BATEMAN: Mr. O'Brien?

21 MR. O'BRIEN: Yes. I was switching back to
22 my chronic thoughts again, but I do think if there

1 was a drug that came forward that had the same
2 analgesic effect without the addiction, to me
3 that's important, and that's very meaningful if
4 they can show that it does not have an
5 addictive -- and I was thinking more of the chronic
6 in terms of withdrawal symptoms, if there was a
7 drug that came out that said, listen, we can
8 eliminate the withdrawal symptoms so that it's very
9 easy for these patients that are addicted to these
10 to come off of it, the ease of use of transition
11 away from it to me would be important.

12 DR. BATEMAN: Other comments?

13 (No response.)

14 DR. BATEMAN: So maybe I'll just try to
15 briefly summarize our discussion from this section.
16 I think there's general skepticism by members of
17 the committee about the idea of a broad,
18 opioid-sparing claim. That said, when we consider
19 these individual components and whether they might
20 be clinically meaningful, part D, the number of
21 patients who used no opioids in the hospital but
22 were discharged with opioids for use at home, I

1 think people voiced the feeling that that was not a
2 particularly meaningful endpoint, particularly from
3 a public health perspective because it still
4 results in the introduction of opioid medications
5 into communities.

6 For part E, that was potentially a more
7 meaningful metric, the proportion of patients who
8 don't require opioid analgesics after discharge.
9 Then for part F, I think there was mixed opinion on
10 the committee, with some people feeling that a
11 reduction in opioid-related adverse effects could
12 be used in support of a context-specific
13 opioid-sparing claim, while others felt the broad
14 range of side effects and medications, both are
15 attributable to the opioid-sparing analgesic that
16 was being trialed as well as the opioids needed to
17 be described, and if there may be a tradeoff
18 between having opioid-related adverse effects for
19 adverse reactions that are attributable to the
20 non-opioid analgesic, that might not be captured if
21 there was a focus on just opioid-related adverse
22 reactions.

1 Then finally, in terms of other criteria
2 that might be clinically meaningful, persistent
3 use, so use of opioids beyond a defined time point
4 where you'd expect the acute pain that's being
5 treated to have resolved, time to mobilization,
6 time to meeting certain functional goals, and then
7 finally validated integrated global measurements of
8 quality of analgesia or quality of recovery can be
9 developed, that could also be a meaningful
10 endpoint.

11 Any points to clarify on that summary?

12 (No response.)

13 DR. BATEMAN: Time for a break. We will
14 break for 15 minutes. As a reminder to the panel,
15 please don't discuss the issues at hand amongst
16 yourselves during the break. We'll resume in
17 15 minutes at 3:25.

18 (Whereupon, at 3:10 p.m., a recess was
19 taken.)

20 DR. BATEMAN: We will get started again
21 here. We're going to move on to question 2,
22 discussion question 2. We've touched on some of

1 this in our previous discussion, but discuss the
2 pros and cons of the following study designs to
3 assess opioid sparing, or alternatively, a novel
4 design to assess opioid sparing.

5 Design A is study drug versus placebo with
6 opioids restricted to rescue, and B, standard of
7 care with add-on of study drug or placebo.

8 Any questions about the structure of the
9 question or clarifying?

10 DR. HERTZ: I'm wondering if some of the
11 lack of vertical cards might just reflect that
12 there was a fair amount of discussion of this.

13 DR. BATEMAN: Yes.

14 DR. HERTZ: Does anybody have anything more
15 to add?

16 DR. BATEMAN: We get a pass. Question 3.

17 (Laughter.)

18 DR. BATEMAN: Discuss how much difference in
19 analgesia, if any, would be permissible in a study
20 of an opioid-sparing drug relative to the standard
21 of care with an opioid?

22 Any clarifying questions? Is the idea here,

1 if there was a noninferiority study, what the
2 noninferiority margin might look like?

3 DR. HERTZ: Yes. But even on a more
4 descriptive or less quantitative way to look at it,
5 if there was a hypothetical drug that was able to
6 offset the use of opioid in one of the ways we
7 consider meaningful, but there was a little bit of
8 a decrement in analgesic effect, is there some way
9 to describe how much would be okay to give up on
10 analgesia if you could really have a drug that
11 was -- again, assuming it was clean and it wasn't
12 going to wipe out livers and all that other kind of
13 stuff, how would we approach that?

14 DR. BATEMAN: Dr. Floyd?

15 DR. FLOYD: This would be an active
16 comparator design. Is that correct?

17 (Dr. Hertz nods yes.)

18 DR. HERTZ: It could be or it could be the
19 add-on design where we're taking standard of care,
20 adding on the active drug, or adding on placebo,
21 and then looking at the amount of opioid
22 difference. Presumably, a highly effective drug

1 would reduce the opioid use quite a bit, and then
2 the question about how much pain.

3 DR. FLOYD: I see. So even in a placebo-
4 controlled design, the intervention arm could have
5 worse pain than the placebo arm if the placebo arm
6 had a lot more opiate use.

7 Is that the scenario? I didn't have a
8 comment. I was just trying to understand the
9 question better.

10 DR. SUAREZ-ALMAZOR: But that would not be
11 possible, let's say, if there was PCA or on demand
12 opioid use. That would only be possible with
13 pre-established --

14 DR. BATEMAN: But if the study was designed
15 in a way where they could only receive rescue every
16 4 hours or something, then you could end up in a
17 situation where --

18 DR. SUAREZ-ALMAZOR: Yes.

19 DR. HERTZ: Let me make it more theoretical
20 because you're clearly very practical thinkers.
21 Would it be reasonable to create a situation in
22 which there would be some degree of loss of

1 analgesic effect if it meant a meaningful reduction
2 in some way of describing opioid risk, and how
3 would we go about looking at that?

4 DR. SUAREZ-ALMAZOR: I think it's all
5 condition specific. I think it depends on the
6 overall level of pain that one can expect for that
7 condition. So again, if we are talking about
8 pulling a tooth, it would be very different from a
9 major surgery, abdominal surgery, or knee
10 replacement, or spinal surgery. So I don't know
11 that we can answer this in a blanket statement.

12 DR. BATEMAN: So does anyone take the
13 position that it would not be permissible to have
14 any difference, that when you integrate the risks
15 of opioids and the importance of analgesia, that no
16 difference could be tolerated?

17 DR. SUAREZ-ALMAZOR: For any condition, even
18 just a tooth extraction?

19 DR. BATEMAN: Right.

20 DR. SUAREZ-ALMAZOR: I would not agree with
21 that.

22 DR. BATEMAN: So no one is going to take the

1 position that you wouldn't tolerate any difference,
2 so then it becomes a question of degrees. So are
3 there ways to measure analgesia where we could
4 define a difference that would be acceptable or
5 not? Dr. Floyd?

6 DR. FLOYD: This is a very interesting
7 question. I'm thinking this is an example where
8 the context could be very important. So you could
9 have a minimally effective analgesic that results
10 in a lot lower use of the PCA. So the pain is
11 worse, but the pain is better in the placebo arm.
12 And it's simply an artifact of PCA being in the
13 study design and perhaps prescribers being very
14 willing to go to the rescue therapy.

15 So it almost is very dependent on the study
16 design and the contextual factors than the
17 analgesic properties of the drug. So I think it's
18 dangerous to allow for a loss of efficacy when
19 you're not really capturing benefits of the drug
20 but kind of features of the healthcare delivery
21 system.

22 DR. BATEMAN: So what about a noninferiority

1 study? Maybe we could talk about that. What would
2 the noninferiority margin be?

3 DR. FLOYD: That's what I was thinking about
4 at first before they posed this kind of strange
5 study design. I think that a loss of efficacy is
6 tolerable compared to substantial gains in
7 ancillary benefits, especially safety, but to a
8 lesser degree, cost or convenience.

9 I think it's very hard to design this
10 prospectively, for example, for a guidance because
11 the ancillary benefits are very hard to define. I
12 don't think they're well defined in terms of opiate
13 dosage reductions. I think the health benefits are
14 not well defined when that's the outcome quantity.
15 I think if you look at things like PROs for opiate-
16 related symptoms, you can begin to do that, but
17 it's very difficult. It depends on the magnitude
18 of reduction of the symptoms as far as how much
19 loss of efficacy you might tolerate.

20 DR. BATEMAN: Maybe just to clarify and
21 other people can weigh in, but if you're designing
22 a noninferiority trial, you may not know what the

1 ancillary benefits are going into it, so you'd have
2 to design the study with a prespecified,
3 noninferiority margin.

4 So anyone want to comment on what that might
5 look like? What would be a tolerable or
6 permissible difference? Dr. Lorenz?

7 DR. LORENZ: I just wanted to comment on the
8 fact that in a number of these studies, I think I
9 saw pain intensity as the measure of analgesia.
10 And I would take issue with it only being a measure
11 of pain intensity because it doesn't capture the
12 individualized treatment goals, which I have seen
13 very widely for patients, to the point where some
14 patients actually want to experience pain that I
15 definitely would have judged intolerable. So I
16 think it can't be pain intensity alone.

17 DR. BATEMAN: So you would favor something
18 more like a global measure of satisfaction?

19 DR. LORENZ: I don't think it's exactly
20 satisfaction that you're getting at, but yes. I
21 don't know. I have been unsuccessful in measuring
22 some of these things in our own work, where you're

1 getting beyond pain function, especially in the
2 acute setting where, again, we struggle with what
3 the right functional indicator is. But I think
4 you're getting more at the person's experience and
5 goals.

6 DR. BATEMAN: Dr. Rosenberg?

7 DR. ROSENBERG: I think that function is the
8 key. For example, for bowel surgery, you'll often
9 accept decreases in analgesia in order to get their
10 ileus resolved. So the improvements in function or
11 that they're meeting their recovery guidepost would
12 be acceptable, but not necessarily as good as the
13 opiate protocol might be a reasonable alternative.

14 DR. BATEMAN: Dr. Suarez?

15 DR. SUAREZ-ALMAZOR: Yes. I also think that
16 it's not so much a difference. It's more the
17 concept. And I think it's related to what you said
18 of whether the pain is tolerable or not. So it's
19 almost a threshold that's individual, and that's
20 per patient.

21 So I don't know how you would operationalize
22 that from a statistical perspective, to come up

1 with a noninferiority margin other than using --
2 there are some scales out there. I don't know how
3 reliable they are, but they try to target more
4 whether the pain is tolerable or not or whether it
5 interferes with function or not as opposed to the
6 pain intensity. That's a way I would go.

7 DR. BATEMAN: Dr. Floyd?

8 DR. FLOYD: So sorry for the repeated
9 comments, but I'm just thinking on the fly about
10 this question. I'm thinking about the examples we
11 have for successful noninferiority trials. We have
12 antithrombotic therapies replacing warfarin, and in
13 that example, we had a clear ancillary benefit,
14 which was, you don't have to have your INR monitor.
15 That was very well defined, so you kind of know
16 what the ancillary benefit you're getting is.

17 In addition, there seems to have been some
18 safety benefits as well, which maybe weren't
19 anticipated. And from that, they also justified a
20 reduction in efficacy based on the reproducible
21 substantial treatment effect of warfarin. So I
22 think that was an example of well-conceived,

1 well-designed and conducted noninferiority trials.

2 Another area is anti-infectives. For a
3 number of bacterial infections, there's guidance
4 where you can conduct noninferiority trials based
5 on reductions in symptoms and sometimes mortality.
6 There, the ancillary benefit is less clear. The
7 purported one is that we need more antibiotics and
8 resistant infections. I think that's questionable
9 when the trials study patients with typical
10 pathogens.

11 In some cases, there are comparator drugs
12 that have clear toxicity; for example like
13 linezolid, which causes cytopenias. And there are
14 other anti-infectives that have very clear,
15 well-defined toxicities. And the idea is that the
16 investigational drug has none of that and no
17 unanticipated side effects.

18 So I think in most of these examples that I
19 know of, the ancillary benefit is well defined.
20 For this noninferiority opiate trial that we're
21 thinking of, this hypothetical trial, I think it's
22 important for us to establish the treatment effect

1 of the active comparator, whether from a previous
2 trial or from a 3R noninferiority trial. I think
3 that has to be well measured.

4 But the ancillary benefit is very hard to
5 define. Unlike warfarin, where you don't have to
6 measure INRs, or anti-infectives where you have
7 this new drug that can treat resistant pathogens or
8 you don't cause cytopenias or things like that,
9 it's harder to justify the noninferiority design
10 without knowing what the ancillary benefits are.

11 DR. BATEMAN: So maybe in response to what
12 you raised, you talked about the example where
13 there's a clear, well-defined toxicity associated
14 with the comparator. I guess you could make the
15 argument, based on all the presentations I heard
16 this morning, that opioids are a class of
17 medications where there is clear toxicity; there's
18 all the risks and side effects.

19 DR. FLOYD: I think the magnitude has to be
20 well defined, which you could do from a phase 2
21 trial. In a similar patient population, you could
22 estimate the treatment effect by looking at the

1 real opiate-related adverse effects, and quantify
2 them, and power your phase 3 trial appropriately.
3 So it probably is possible, but it does require
4 well-conceived phase 2 studies.

5 DR. BATEMAN: Dr. Goudra?

6 DR. GOUDRA: Yes, Dr. Goudra from Penn
7 Medicine. Another major problem I can see, if the
8 idea of the FDA is to give this label, opioid
9 sparing for new drugs, the problem of putting any
10 number in terms of a percentage of difference or
11 dose reduction, a percentage reduction, one, if the
12 drug is not good, it will rate it off
13 [indiscernible] because it's not going to meet the
14 criteria. But if the drug is somewhere in between,
15 manufacturers might find ways of trying to meet
16 those numbers in order to get the label.

17 DR. BATEMAN: Any other comments on this
18 question? Dr. Higgins?

19 DR. HIGGINS: I keep going back to this
20 idea -- and it was a question I raised to Dr. Horn
21 about the idea that you have certain types of
22 surgeries or procedures, and then you analyze

1 certain types of modalities and their effectiveness
2 for different types of procedures. So in a certain
3 sense, you're categorizing the kinds of procedures,
4 and therefore can anticipate a certain outcome.

5 I don't know if that's possible to examine
6 in a trial, but it gives me sort of a benchmark,
7 what we could expect from a certain intervention,
8 procedural intervention, and what the outcome would
9 likely be for someone. Maybe that's not an ethical
10 way of studying this, but that's kind of where I
11 would go.

12 DR. BATEMAN: Any other comments?

13 (No response.)

14 DR. BATEMAN: Just to briefly summarize, I
15 think no one on the committee took the stand that
16 they wouldn't accept any difference in analgesia,
17 that no difference in analgesia is permissible in
18 comparing an opioid-sparing drug to the standard of
19 care.

20 We talked about how the difference in
21 analgesia would probably best be measured not just
22 on a pain intensity level, but also looking at more

1 global measures, including functional measures,
2 although exactly what those are is not well
3 defined.

4 We talked about the idea of noninferiority
5 studies and how the noninferiority margin would
6 need to be defined, considering the anticipated
7 ancillary benefits of the opioid-sparing drug
8 relative to opioids and what might be expected in
9 terms of risk reduction.

10 Any other clarifying comments?

11 (No response.)

12 DR. BATEMAN: Let's move on to number 4.
13 Discuss the study design for a study of a novel
14 non-opioid analgesic intended to be used in place
15 of an opioid analgesic, taking the following points
16 into consideration. A, discuss whether any
17 evidence of efficacy is enough when evaluating a
18 novel analgesic intended to replace an opioid,
19 whether adequate analgesia is an acceptable
20 outcome; B, discuss when the use of an active
21 comparator is necessary to make a determination
22 that a novel analgesic provides opioid-level

1 analgesia in a setting usually managed with an
2 opioid analgesic; and then C, discuss how the use
3 of rescue medications should be taken into account
4 in the evaluation of efficacy in this setting.

5 Does anyone have any clarifying questions?

6 DR. SUAREZ-ALMAZOR: So this is replacing.
7 This is not to reduce opioid use.

8 DR. HERTZ: This is the novel non-opioid
9 analgesic.

10 DR. SUAREZ-ALMAZOR: But you say replacing
11 in a couple of places.

12 DR. HERTZ: Right. So this is an analgesic
13 intended to be used in the same setting as an
14 opioid, but in place of an opioid.

15 DR. BATEMAN: So a clinical context where
16 opioids are routinely used, and the intention is
17 that this drug would be substituted for an opioid
18 in that clinical context.

19 DR. HERTZ: Yes.

20 DR. BATEMAN: How do we establish that? Dr.
21 Litman?

22 DR. LITMAN: Thanks. So in contrast to my

1 comments before, I do think that this would be
2 appropriate use of a phase 3 prospective trial with
3 the caveats that the two important pieces or the
4 components besides efficacy, per se, would be
5 rescue. And typical rescue is an opioid. I can't
6 think of anything else because, in the real world,
7 we would be giving Toradol -- sorry, an
8 NSAID -- and possibly acetaminophen at the same
9 time because their therapeutic margins are usually
10 pretty good.

11 So if you can't use them as part of your
12 standard of care, I can't imagine that they're
13 going to be useful as rescue. So the only other
14 rescue I could think of is the opioid.

15 But then there's the other part, which we
16 discussed before, and that's to make sure that the
17 side effects of whatever the non-opioid novel
18 modification is not the same or greater than the
19 opioid side effects. The first step would be
20 taking a specific procedure where there's a
21 feasible mechanism that the non-opioid would work
22 just as well, like bladder surgery or something

1 where traditionally, opioids, you don't respond
2 well.

3 When you think about the kinds of operations
4 or surgeries that traditionally require a lot of
5 opioids, like bony spines, hips, knees, tissue
6 trauma is not amenable to anything I know about
7 except local anesthesia of course. So if there's
8 something else that's novel, that would be great,
9 but all those other things have to be taken into
10 consideration.

11 DR. BATEMAN: Dr. Budnitz?

12 CAPT BUDNITZ: Dan Budnitz. In answering
13 this question, I imagined two phases. One is the
14 phase 3 efficacy study, which could be against
15 placebo to get a drug approved for an analgesia
16 indication. And then, for the opioid-sparing
17 claim, it would be a second study that would be a
18 phase 4, that would be against usual care, would be
19 against an active comparator, where the medication
20 for breakthrough would be an opioid.

21 So you determine if it's opioid sparing or
22 not because that would be the breakthrough

1 medication used to treat as a rescue medication.

2 DR. BATEMAN: Dr. Floyd?

3 DR. FLOYD: So are you inquiring about an
4 active comparator design, where your
5 investigational drug is, for example, like an NSAID
6 and the active comparator is an opiate? Is that a
7 potential study design?

8 DR. HERTZ: I'm not going to answer that
9 directly. What we are trying to understand is when
10 a novel analgesic, non-opioid analgesic, is coming
11 to market, a lot of times, we see placebo-
12 controlled with all the usual study design elements
13 and that shows it's an analgesic. But if they want
14 to be considered opioid replacing, what should that
15 study look like? Is placebo enough? Do they have
16 to have an active comparator? How should that be
17 defined? How should we look at that?

18 A lot of times we know that a product works,
19 but we just don't know how it compares to others.

20 DR. FLOYD: So separating the issue of
21 labeling and calling things opioid sparing, I do
22 think that type of study design would be very

1 informative, studying an investigational drug
2 compared with an opiate. And the rescue therapy
3 can be an opiate.

4 I think of an analogy of diabetes, where the
5 rescue therapy for type 2 diabetes is insulin. You
6 can titrate that to any effect. And sometimes you
7 compare a new oral drug to insulin, especially in
8 the previous era when there were fewer oral
9 options.

10 So I do think that is an acceptable study
11 design, and as long as there is enough separation
12 in opiate use across the two treatment arms, you
13 can get information that is useful both in terms of
14 efficacy and safety. And I think if you have a big
15 separation, you have a very good chance of
16 demonstrating a reduction in opiate-related adverse
17 effects.

18 So I'd be curious what other panel members
19 may think, but I think that could be an informative
20 study design.

21 DR. BATEMAN: Although I'm not sure that
22 this label of opioid-level analgesia is all that

1 meaningful. The amount of analgesia that an opioid
2 provides is dependent on the dose that you give. I
3 guess it would be looking for novel analgesics that
4 are affected in the treatment of moderate to severe
5 pain and would be perhaps a more meaningful way of
6 conceptualizing it.

7 DR. FLOYD: Would you agree that study
8 design would be informative? If you could handle
9 the labeling and kind of reward for developing that
10 drug in some way that represents its actual
11 benefit, that study design --

12 DR. BATEMAN: Yes, compared with opioids,
13 which would be the most commonly used treatment for
14 analgesia for moderate to severe pain would make a
15 lot of sense to me. Dr. Budnitz?

16 CAPT BUDNITZ: Dan Budnitz. In these phase
17 3 trials for analgesics -- again, I'm not typically
18 involved in them, but doesn't one control out usual
19 care for approval typically to demonstrate efficacy
20 or do you allow usual care in both arms, plus
21 placebo in one and the investigational drug in the
22 other? I thought my impression was that that

1 didn't happen, but I could be wrong.

2 DR. BATEMAN: If you imagine a novel
3 analgesic that was going to be used in a
4 perioperative setting, I think you would need an
5 active comparator. I can't imagine really doing
6 the trial with a placebo in the setting of patients
7 who have severe pain.

8 CAPT BUDNITZ: So I'm thinking about not so
9 much in the inpatient setting, but like on
10 discharge. I'm thinking about patients on
11 discharge and how does one control for usual care
12 of over-the-counters, of cold compresses, or
13 whatever else is used, and can you truly call
14 something opioid sparing from a control trial
15 setting that's used to demonstrate efficacy.

16 I guess I'm not familiar enough with how
17 constrained those trials are and how much they
18 really would reflect the usual care among the
19 spectrum of patients that are not highly selected.
20 I think we heard about screening out patients that
21 might be susceptible for opioid use in these
22 trials, and I think that's important not to do in a

1 phase 4 trial if you're making a claim of opioid
2 sparing.

3 So I guess my concern is how much do phase 3
4 trials designed for efficacy will really be able to
5 reflect usual care in order to make a claim of
6 opioid sparing in the real world, in real-world
7 settings?

8 DR. BATEMAN: Dr. McCann?

9 DR. McCANN: Mary Ellen McCann. Although
10 it's not really asked in the question, since you're
11 conceptualizing a drug that would be a non-opioid
12 replacement, you're thinking about a drug that
13 could possibly be used over many, many millions of
14 patients. And I think that it's really important,
15 before you approve a drug like that, that you
16 really have a lot of toxicity data.

17 That's not part of the question, but that's
18 what I would be worried about. We've seen it in a
19 prior meeting, where there was a faint signal, and
20 we don't know if the signal was meaningful or not,
21 but it was enough to give the committee pause. And
22 if you're looking to replace morphine, then you

1 really should make sure the replacement is as safe
2 as morphine.

3 DR. BATEMAN: Dr. Suarez?

4 DR. SUAREZ-ALMAZOR: My view is that if the
5 claim would be to replace an opioid, then there has
6 to be an opioid as an active comparator. That's
7 the only way you can get to it. But I think it
8 goes back to the issue of noninferiority, and if we
9 factor other things as just toxicity, what's the
10 level of efficacy that we are willing to give up in
11 terms of the toxicity? So to me, it relates a
12 little bit to the discussion that we had before.

13 DR. BATEMAN: Other comments on this
14 question?

15 (No response.)

16 DR. BATEMAN: Dr. Hertz, are we capturing
17 what you're looking for in this?

18 DR. HERTZ: Yes.

19 DR. BATEMAN: Any other comments?

20 (No response.)

21 DR. BATEMAN: So just to briefly summarize,
22 I think the feeling of the committee was that a

1 trial that was going to attempt to show the
2 efficacy of a non-opioid analgesic that was
3 intended to address the same clinical needs that
4 opioids address would perhaps be best studied in
5 the context of an active comparator design and that
6 opioids could be used as rescue medications in the
7 context of that type of trial.

8 There's also several on the committee who
9 voiced the concern that toxicity of any novel
10 non-opioid analgesic be fully evaluated prior to
11 approval because, as opioids are widely used, any
12 replacement for opioids would also likely be widely
13 used in practice.

14 Any clarifications?

15 DR. HERTZ: Dr. Bateman?

16 DR. BATEMAN: Yes.

17 DR. HERTZ: Would anyone care to comment
18 just on what that adequate assessment of toxicity
19 might look like, just as a little side note?

20 DR. SUAREZ-ALMAZOR: I would say what's
21 usual for trials of this nature. I'm not sure what
22 you're trying to get at. Maybe if you can be a

1 little more specific.

2 DR. HERTZ: If the answer wasn't really
3 clear, I don't want to get us sidetracked, so we'll
4 go back to the questions.

5 DR. BATEMAN: Any other comments on this
6 question before we move on?

7 (No response.)

8 DR. BATEMAN: The next question is a voting
9 question. The question is, is any reduction in
10 opioid use sufficient to warrant labeling as opioid
11 sparing? And if not, describe the criteria that
12 would support such labeling.

13 Any clarifying questions?

14 DR. SHO BEN: So given all the question about
15 opioid sparing as problematic wording, do you want
16 to leave it as is or make any sort of --

17 DR. BATEMAN: I would say that we vote on
18 the question as worded, and then people can clarify
19 the way they want their votes to be interpreted as
20 we go around the room.

21 So we will be using the electronic voting
22 system for this meeting. Once we begin the vote,

1 the buttons will begin flashing and will continue
2 to flash even after you have entered your vote.
3 Please press the button firmly that corresponds to
4 your vote. If you are unsure of your vote or you
5 wish to change your vote, you may press the
6 corresponding button until the vote is closed.

7 After everyone has completed their vote, the
8 vote will be locked in. The vote will then be
9 displayed on the screen. The DFO will read the
10 vote on the screen into the record. Next, we will
11 go around the room and each individual who voted
12 will state their name and their vote into the
13 record. You can also state the reason why you
14 voted as you did if you want to. We will continue
15 in the same manner until all questions have been
16 answered or discussed.

17 So everyone can go ahead and vote on
18 question 5.

19 Please press the button on your microphone
20 that corresponds to your vote. You will have
21 approximately 20 seconds to vote. Please press the
22 button firmly. After you've made your selection,

1 the light may continue to flash. If you're unsure
2 of your vote or you wish to change your vote,
3 please press the corresponding button again before
4 the vote is closed.

5 (Voting.)

6 DR. BATEMAN: Everyone has voted. The vote
7 is now complete.

8 DR. CHOI: For the record, we have 1 yes, 11
9 no, and 1 abstention.

10 DR. BATEMAN: So why don't we start to the
11 right with Mr. O'Brien?

12 MR. O'BRIEN: I think that there has to be
13 something meaningful. We already showed in certain
14 cases. I think 80 percent was the criterion that
15 existed already that I've seen, and I think just
16 for a 5 percent change, that's not enough to make a
17 change. In the risk-benefit analysis, we have to
18 have something meaningful that's there.

19 DR. BATEMAN: Just as a reminder, please
20 state your name and the way you voted.

21 MR. O'BRIEN: Joe O'Brien. No.

22 DR. HIGGINS: Jennifer Higgins. I voted no.

1 I would feel more comfortable with language
2 somewhere on the label that says something to the
3 effect of this product has been shown to be used as
4 a partial replacement for opioids in certain
5 clinical situations such as blah, blah, blah. That
6 would be something I'd feel more comfortable with,
7 given what we've reviewed today and the lack of
8 data we have.

9 DR. FLOYD: James Floyd. I voted no for
10 reasons I've already stated today. I don't think
11 any line of evidence would support a broad labeling
12 of a drug as opioid sparing. But as other
13 committee members have said, based on specific
14 populations and findings from studies, I think some
15 information could be incorporated in a label that's
16 precise.

17 DR. SUAREZ-ALMAZOR: Suarez-Almazor. I
18 voted no for the reasons stated.

19 DR. LORENZ: Right. I think many issues
20 have been covered here, but I would say, in
21 general, the issue is what harms are avoided or
22 what benefits are achieved through avoidance. Some

1 of those, we might accept as proxies, given current
2 levels of evidence, for example, avoidance of
3 opioids, but others, like milligram reductions, I
4 think are much less established and maybe much more
5 contextual.

6 So those would be my considerations, but I
7 would certainly vote no in general, only accepting
8 opioid reduction.

9 DR. BATEMAN: Just remember to state your
10 name and the way you voted.

11 DR. ROSENBERG: Jack Rosenberg. I voted no
12 for the reasons that have already been stated.

13 DR. BATEMAN: We have to go back to
14 Dr. Lorenz.

15 DR. LORENZ: Sorry. This is Karl Lorenz. I
16 voted no.

17 DR. SHOBNEN: Abby Shoben. I voted no
18 primarily based on the concerns about the wording
19 of what does it mean to be opioid sparing. And
20 then just to reiterate some other points that were
21 made about picking a meaningful outcome, so we
22 discussed that a lot in question 1, reducing the

1 percentage of patients going home with an opioid
2 prescription or potentially reducing the number of
3 total pills dispensed, like that are going out in
4 the community, would be more meaningful to me.

5 DR. McCANN: Mary Ellen McCann. I voted no.

6 CAPT BUDNITZ: Dan Budnitz. I voted no.

7 The criteria that might be most supportive of such
8 labeling for me is the percent of patients who are
9 no longer on opioids for a certain day for a
10 certain procedure, relative to a treatment arm that
11 was treated with opioids.

12 DR. BATEMAN: Brian Bateman. I voted no for
13 reasons other panelists have stated.

14 DR. GOUDRA: Dr. Goudra. I decided to
15 abstain because I think the whole notion of giving
16 this option of opioid sparing itself is incorrect.
17 In fact, I do remember many, many years ago, when I
18 was in England, when intravenous acetaminophen just
19 came in, the manufacturers were trying to claim
20 that 1 gram intravenous acetaminophen given during
21 the procedure is equipotent with 10 milligrams of
22 morphine sulfate, and we all knew what happened

1 afterwards.

2 DR. LITMAN: Ron Litman. I voted yes, but I
3 could have voted no or abstained. To me, it's all
4 the same. I agree with all the panelists and their
5 explanations for their no vote, but I could foresee
6 a situation where a certain drug showed that it was
7 just as efficacious, yet had less side effects than
8 opioids under certain clinical circumstances. I
9 think that could be achieved either by a phase 3
10 prospective study, although that would be really
11 circumscribed circumstances and I don't in general
12 favor that.

13 But I could foresee it in a large phase 4
14 study, which has a robust external validity.
15 During the discussions today, we all have talked
16 about these kind of conditions and certain
17 situations where other drugs are better than
18 opioids for certain periods of time like a local
19 anesthetic or for certain conditions like bladder
20 spasms. So I do think it's feasible in certain
21 conditions that it could meet those criteria.

22 DR. MICHNA: Ed Michna. I voted no because

1 I think opioid sparing is just too broad and lacks
2 definition.

3 DR. BATEMAN: We will move on to the next
4 voting question, question 6. The question here is,
5 is it sufficient to claim opioid-level analgesia
6 for a novel analgesic compound based on the
7 clinical trial population and without an opioid
8 active comparator. If not, describe the type of
9 comparison that would provide support for a finding
10 of opioid-level analgesia.

11 Please press the button on your microphone
12 that corresponds to your vote. You'll have
13 approximately 20 seconds to vote. Please press the
14 button firmly. After you've made your selection,
15 the light may continue to flash. If you are unsure
16 of your vote or wish to change your vote, please
17 press the corresponding button again before the
18 vote is closed.

19 Any clarifying questions on this?

20 (No response.)

21 DR BATEMAN: We'll move forward with the
22 vote.

1 (Voting.)

2 DR. BATEMAN: Everyone has voted. The
3 voting is now complete.

4 DR. CHOI: For the record, we have 1 yes, 12
5 no, zero abstentions.

6 DR. BATEMAN: Now that the vote is complete,
7 we'll go around the table and everyone who voted
8 should state their name, vote, and if you want to,
9 you can state the reason why you voted as you did
10 into the record. We'll start with Mr. O'Brien
11 again.

12 MR. O'BRIEN: Joe O'Brien. I voted no. I
13 would want to see a comparator to what's out there
14 in the market before I would agree with it.

15 DR. HIGGINS: Jennifer Higgins. I voted no.
16 As I stated previously, I would recommend a study
17 design that had standard of care in different doses
18 versus the test comparator as an add-on only.

19 DR. FLOYD: James Floyd. I voted no. I
20 think the label "opioid level" might be
21 problematic. And also, I think that, for anything
22 like that, you would need an active comparator

1 that's an opiate, but still be very attentive to
2 good principles of noninferiority trial design, in
3 particular, assay sensitivity.

4 I could conceive of a trial where you study
5 patients where opiates are minimally effective,
6 your new drug has no analgesic properties, but you
7 still demonstrate noninferiority. So you have to
8 be careful to either strongly justify or
9 demonstrate explicitly in a three-arm trial the
10 assay sensitivity.

11 DR. SUAREZ-ALMAZOR: Suarez-Almazor. I
12 voted no for the reasons stated, and I think that a
13 trial design would vary according to the condition
14 and context where the new agent would be employed.
15 So it would be very hard just to use a design that
16 fits every single situation.

17 DR. LORENZ: Karl Lorenz. I voted no for
18 the reasons already described.

19 DR. ROSENBERG: Jack Rosenberg. I voted no
20 for the reasons already spoken.

21 DR. SHOBNEN: Abby Shoben. I voted no also
22 for the reasons already described.

1 DR. McCANN: Mary Ellen McCann. I have to
2 explain myself since I voted the only yes. I took
3 the question -- I think you probably could define
4 what opioid-level analgesia is if you used a
5 particular patient population like bunionectomies.

6 I don't know that I would design a trial
7 without an active comparator, but I could see it
8 being done, and that you would get useful
9 information from it. So that's why I said yes.

10 CAPT BUDNITZ: Dan Budnitz. I voted no.

11 DR. BATEMAN: Brian Bateman. I voted no. I
12 think the term "opioid-level analgesia" is a bit
13 problematic, but if we're thinking of trials for a
14 novel analgesic that's intended to be used in the
15 same clinical context, where opioids are routinely
16 used, it's hard for me to envision a trial that
17 would be done that didn't have opioids as an active
18 comparator.

19 DR. GOUDRA: Dr. Goudra. I voted no for
20 reasons everybody's stated. In fact, even if you
21 want to claim equivalency after comparison, the
22 study has to be pretty robust.

1 DR. LITMAN: Ron Litman. I voted no for all
2 the reasons, of course, that have been stated. But
3 also, despite all the bad things, opioids are an
4 incredibly great godsend to people in pain, and it
5 would be a high bar for me to attempt to replace
6 them with something else without comparing against
7 them.

8 DR. MICHNA: Ed Michna. I voted no.
9 Opioids can be used in so many different
10 situations, it's hard to imagine any valid
11 comparison without using an opioid as a comparator.

12 DR. BATEMAN: Any further comments from the
13 committee? Anyone have anything that they want to
14 say before we wrap up?

15 DR. ROSENBERG: Just that, to describe it as
16 an opioid level, I could see the day, not too far
17 away, where we have potent analgesics that are
18 equal in efficacy that no longer will we be seeing
19 opioids as the king of the hill. So I would say
20 potent analgesics might be a better label rather
21 than opioid level.

22 DR. BATEMAN: Thank you. Any other

1 comments?

2 (No response.)

3 DR. BATEMAN: Any last comments from the
4 FDA?

5 DR. HERTZ: Just my thanks for your time
6 taking away from very busy schedules and for all
7 the helpful discussion. Clearly, this is going to
8 be a difficult topic to continue to tackle, and we
9 will keep working on it.

10 **Adjournment**

11 DR. BATEMAN: Thank you.

12 Panel members, please take all personal
13 belongings with you, as the room is cleaned at the
14 end of the day. All materials left on the table
15 will be disposed of. Please also remember to drop
16 off your name badge on the registration table on
17 your way out so they may be recycled. We will now
18 adjourn the meeting. Thank you.

19 (Whereupon, at 4:09 p.m., the meeting was
20 adjourned.

21

22