

1 FOOD AND DRUG ADMINISTRATION
2 CENTER FOR DRUG EVALUATION AND RESEARCH
3
4
5

6 JOINT MEETING OF THE ANESTHETIC AND ANALGESIC
7 DRUG PRODUCTS (AADPAC) AND THE DRUG SAFETY AND
8 RISK MANAGEMENT (DSaRM) ADVISORY COMMITTEES
9
10
11
12

13 Wednesday, November 14, 2018

14 8:00 a.m. to 4:04 p.m.
15
16
17
18

19 FDA White Oak Campus
20 Building 31, the Great Room
21 10903 New Hampshire Avenue
22 Silver Spring, Maryland

Meeting Roster

DESIGNATED FEDERAL OFFICER (Non-Voting)

Moon Hee V. Choi, PharmD

Division of Advisory Committee and Consultant
Management

Office of Executive Programs, CDER, FDA

**ANESTHETIC AND ANALGESIC DRUG PRODUCTS ADVISORY
COMMITTEE**

MEMBERS (Voting)

Brian T. Bateman, MD, MSc

(Acting Chairperson)

Associate Professor, Harvard Medical School

Chief, Division of Obstetric Anesthesia

Department of Anesthesiology

Division of Pharmacoepidemiology and

Pharmacoeconomics

Department of Medicine

Brigham and Women's Hospital

Boston, Massachusetts

1 **Basavana G. Goudra, MD, FRCA, FCARSCI**

2 Clinical Associate Professor of Anesthesiology
3 and Critical Care Medicine
4 Director of Endoscopy Anesthesia Services at the
5 Penn Presbyterian Medical Center
6 Perelman School of Medicine
7 Hospital of the University of Pennsylvania
8 Philadelphia, Pennsylvania
9

10 **Mary Ellen McCann, MD, MPH**

11 Associate Professor of Anesthesia
12 Harvard Medical School
13 Senior Associate in Anesthesia
14 Boston Children's Hospital
15 Boston, Massachusetts
16

17 **Abigail B. Shoben, PhD**

18 Associate Professor, Division of Biostatistics
19 College of Public Health
20 The Ohio State University
21 Columbus, Ohio
22

1 **Lonnie Zeltzer, MD**

2 Director, Pediatric Pain and Palliative Care

3 Program

4 Distinguished Professor of Pediatrics,

5 Anesthesiology, Psychiatry and Biobehavioral

6 Sciences

7 David Geffen School of Medicine at UCLA

8 Los Angeles, California

9
10 **ANESTHETIC AND ANALGESIC DRUG PRODUCTS ADVISORY**

11 **COMMITTEE MEMBER (Non-Voting)**

12 **W. Joseph Herring, MD, PhD**

13 *(Industry Representative)*

14 Associate Vice President

15 Clinical Neuroscience

16 Merck Research Laboratories

17 North Wales, Pennsylvania

1 **DRUG SAFETY AND RISK MANAGEMENT ADVISORY COMMITTEE**

2 **MEMBERS (Voting)**

3 **Sonia Hernandez-Diaz, MD, MPH, DrPH**

4 Professor of Epidemiology

5 Department of Epidemiology

6 Harvard T.H. Chan School of Public Health

7 Boston, Massachusetts

8
9 **Steven B. Meisel, PharmD, CPPS**

10 System Director of Medication Safety

11 Fairview Health Services/HealthEast Care System

12 Minneapolis, Minnesota

13
14 **Suzanne B. Robotti**

15 *(Consumer Representative)*

16 Executive Director

17 DES Action USA

18 Founder and President

19 MedShadow Foundation

20 New York, New York

TEMPORARY MEMBERS (Voting)

Cynthia L. Arfken PhD

Professor Department of Psychiatry and Behavioral
Neurosciences
Wayne State University
Detroit, Michigan

Michael Fischer, MD, MS

Associate Professor of Medicine
Harvard Medical School
Associate Professor of Epidemiology
Harvard T.H. Chan School of Public Health
Division of Pharmacoepidemiology &
Pharmacoeconomics
Brigham & Women's Hospital
Boston, Massachusetts

1 **Traci C. Green, PhD, MSc**

2 Associate Professor, Emergency Medicine and

3 Community Health Sciences

4 Boston University Schools of Medicine and

5 Public Health

6 Deputy Director, Boston Medical Center

7 Injury Prevention Center

8 Boston, Massachusetts

9 Adjunct Associate Professor

10 Emergency Medicine and Epidemiology

11 Brown University Schools of Medicine and

12 Public Health

13 Providence, Rhode Island

14
15 **Jennifer Higgins, PhD**

16 *(Acting Consumer Representative)*

17 Director of Research & Policy

18 Association of Developmental Disabilities

19 Providers

20 Framingham, Massachusetts

1 **Brandon D.L. Marshall, PhD**

2 Associate Professor of Epidemiology

3 Brown University School of Public Health

4 Providence, Rhode Island

5
6 **Joseph O'Brien, MBA**

7 *(Patient Representative)*

8 President & Chief Executive Officer

9 National Scoliosis Foundation

10 Stoughton, Massachusetts

11
12 **Jeanmarie Perrone, MD, FACMT**

13 Professor, Emergency Medicine

14 Director, Division of Medical Toxicology

15 Department of Emergency Medicine

16 Perelman School of Medicine at the University of
17 Pennsylvania

18 Attending Physician, Emergency Department

19 Hospital of the University of Pennsylvania

20 Philadelphia, Pennsylvania

1 **Thomas Prisinzano, PhD**

2 Professor, Medicinal Chemistry

3 School of Pharmacy

4 University of Kansas

5 Lawrence, Kansas

6
7 **Jon E. Zibbell, PhD**

8 Senior Scientist

9 Behavioral Health Research Division

10 RTI International

11 Atlanta, Georgia

12
13 **FDA PARTICIPANTS (Non-Voting)**

14 **Sharon Hertz, MD**

15 Director

16 Division of Anesthesia, Analgesia and

17 Addiction Products (DAAAP)

18 Office of Drug Evaluation II (ODE-II)

19 Office of New Drugs (OND), CDER, FDA

1 **Jennifer L. Nadel, MD**

2 Medical Officer

3 DAAAP, ODE-II, OND, CDER, FDA

4
5 **Judy Staffa, PhD, RPh**

6 Associate Director for Public Health Initiatives

7 Office of Surveillance and Epidemiology (OSE)

8 CDER, FDA

9
10 **Tamra Meyer, PhD, MPH**

11 Team Lead Prescription Drug Abuse Team

12 Division of Epidemiology II

13 Office of Pharmacovigilance and Epidemiology

14 OSE, CDER, FDA

15
16 **Dominic Chiapperino, PhD**

17 Director

18 Controlled Substance Staff

19 Office of the Center Director, CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Brian Bateman, MD, MSc	14
5	Conflict of Interest Statement	
6	Moon Hee Choi, PharmD	19
7	FDA Introductory Remarks	
8	Sharon Hertz, MD	23
9	Applicant Presentations - SpecGx LLC	
10	Introductions	
11	Martha Schlicher, PhD	33
12	Public Health Need for Abuse-Deterrent IR	
13	Opioid Analgesics	
14	Richard Dart, MD, PhD	40
15	Category 1 In Vitro Studies	
16	Edward Cone, PhD	46
17	Nonclinical Excipient Safety Studies	
18	Mike Orr, PhD, DABT	52
19	Intranasal Human Abuse Potential Study	
20	Sandra Comer, PhD	57
21	Clinical Perspective	
22	Jeff Gudin, MD	68

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	Clarifying Questions	78
4	FDA Presentations	
5	MNK-812 Introduction and Overview	
6	Jennifer Nadel, MD	117
7	In Vitro Category I Abuse Deterrent	
8	Studies of MNK-812	
9	Valerie Amspacher, PharmD	120
10	Nonclinical Safety Assessment of	
11	MNK-812 Excipients	
12	R. Daniel Mellon, PhD	128
13	Examination of Intranasal Human	
14	Abuse Potential Study MNK48121013	
15	James Tolliver, PhD	142
16	Review of Recent Epidemiologic	
17	Data on Use, Misuse and Abuse of	
18	Oxycodone	
19	Tamra Meyer, PhD, MPH	157
20	MNK-812 Clinical Summary of	
21	Abuse Deterrence	
22	Jennifer Nadel, MD	175

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	Clarifying Questions	183
4	Open Public Hearing	202
5	Clarifying Questions (continued)	246
6	Charge to the Committee	
7	Sharon Hertz, MD	251
8	Questions to the Committee and Discussion	253
9	Adjournment	348
10		
11		
12		
13		
14		
15		
16		
17		
18		
19		
20		
21		
22		

P R O C E E D I N G S

(8:00 a.m.)

Call to Order

Introduction of Committee

DR. BATEMAN: Good morning. First I'd like to remind everyone to please silence your cell phones, smartphones, or any other devices if you've not already done so. I would also like to identify the FDA press contact Michael Felberbaum. If you're present, please stand.

My name is Brian Bateman, and I'm the acting chairperson for this meeting. I will now call the Joint Meeting of the Anesthetic and Analgesic Drug Products Advisory Committee and the Drug Safety and Risk Management Advisory Committee to order. I'll start by going around the table and introducing ourselves. We'll start with the FDA to my left and go around the table.

DR. HERTZ: Good morning. My name is Sharon Hertz. I'm the director for the Division of Anesthesia, Analgesia, and Addiction Products.

DR. NADEL: I'm Jennifer Nadel. I'm a

1 clinical reviewer in the Division of Anesthesia,
2 Analgesia, and Addiction Products.

3 DR. STAFFA: Good morning. I'm Judy Staffa.
4 I'm the associate director for public health
5 initiatives in the Office of Surveillance and
6 Epidemiology.

7 DR. MEYER: Hello. I'm Tamra Meyer. I'm
8 the team lead for the prescription drug abuse team
9 1 in the Division of Epidemiology II, in the Office
10 of Surveillance and Epidemiology in CDER.

11 DR. CHIAPPERINO: Good morning. I'm Dominic
12 Chiapperino. I'm the director on the controlled
13 substance staff, CDER.

14 DR. ARFKEN: I'm Cynthia Arfken. I'm an
15 epidemiologist and professor at Wayne State
16 University in Detroit, Michigan.

17 DR. MARSHALL: Good morning. I'm an
18 epidemiologist and associate professor in
19 epidemiology at the Brown School of public Health.
20 My name is Brandon Marshall.

21 DR. GREEN: Hi. I'm Traci Green. I'm also
22 an epidemiologist. I'm an associate professor of

1 emergency medicine and epidemiology at Community
2 Health Sciences at Boston University Schools of
3 Medicine and Public Health.

4 DR. ZELTZER: I'm going to assault you
5 today. I'm Lonnie Zeltzer with laryngitis. I'm a
6 distinguished professor of pediatrics, anesthesia,
7 and psychiatry at University of California, Los
8 Angeles.

9 DR. GOUDRA: Good morning. I'm Basavana
10 Goudra. I'm an associate professor of
11 anesthesiology at Penn Medicine.

12 DR. CHOI: Moon Hee Choi, designated federal
13 officer.

14 DR. BATEMAN: Brian Bateman. I'm an
15 anesthesiologist at Brigham and Women's Hospital
16 and associate professor at Harvard Medical School.

17 DR. HERNANDEZ-DIAZ: Sonia Hernandez-Diaz,
18 professor of epidemiology, Harvard Chan School of
19 Public Health.

20 DR. McCANN: Mary Ellen McCann. I'm a
21 pediatric anesthesiologist at Boston Children's
22 Hospital and associate professor of anesthesia at

1 Harvard Medical School.

2 DR. SHOBEN: I'm Abby Shoben. I'm an
3 associate professor of biostatistics at The Ohio
4 State University.

5 DR. MEISEL: Steve Meisel, director of
6 medication safety, Fairview Health Services in
7 Minneapolis.

8 DR. ZIBBELL: Hi, everybody. John Zibbell,
9 senior scientist on the Behavioral Health Research
10 program at RTI International and also professor of
11 medical anthropology at Emory University.

12 DR. FISCHER: I'm Michael Fischer. I'm a
13 primary care physician at Brigham & Women's
14 Hospital in Boston and an associate professor in
15 the Division of Pharmacoepidemiology at Brigham &
16 Women's Hospital, Harvard Med school.

17 DR. PRISINZANO: I'm Tom Prisinzano. I'm a
18 professor of medicinal chemistry in the school of
19 pharmacy at the University of Kansas in Lawrence.

20 DR. PERRONE: Hi. I'm Jeanmarie Perrone.
21 I'm an emergency physician and professor of
22 emergency medicine and medical toxicology at the

1 Perelman School of Medicine at the University of
2 Pennsylvania in Philadelphia.

3 MS. ROBOTTI: Hi. I'm Suzanne Robotti. I
4 am the president of MedShadow Foundation and the
5 executive director of DES Action USA.

6 DR. HIGGINS: Jennifer Higgins, acting
7 consumer representative to AADPAC.

8 MR. O'BRIEN: Joseph O'Brien, president and
9 CEO of the National Scoliosis Foundation. I'm the
10 patient representative. I am also a patient with my
11 sixth spinal surgery for scoliosis last December.

12 DR. HERRING: Good morning. Hello. I'm Joe
13 Herring. I'm associate vice president of clinical
14 neuroscience at Merck, a neurologist, and industry
15 representative to the AADPAC. Thank you.

16 DR. BATEMAN: For topics such as those being
17 discussed at today's meeting, there are often a
18 variety of opinions, some of which are quite
19 strongly held. Our goal is that today's meeting
20 will be a fair and open forum for discussion of
21 these issues and that individuals can express their
22 views without interruption. Thus, as a gentle

1 reminder, individuals be allowed to speak into the
2 record only if recognized by the chairperson. We
3 look forward to a productive meeting.

4 In the spirit of the Federal Advisory
5 Committee Act and the Government in the Sunshine
6 Act, we ask that the advisory committee members
7 take care that their conversations about the topic
8 at hand take place in the open forum of the
9 meeting. We are aware that members of the media
10 are anxious to speak with the FDA about these
11 proceedings, however, the FDA will refrain from
12 discussing the details of this meeting with the
13 media until its conclusion. Also, the committee is
14 reminded to please refrain from discussing the
15 meeting topics during breaks or lunch. Thank you.

16 Now I'll pass it to the Moon Hee Choi who
17 will read the Conflict of Interest Statement.

18 **Conflict of Interest Statement**

19 DR. CHOI: The Food and Drug Administration
20 is convening today's Joint Meeting of the
21 Anesthetic and Analgesic Drug Products Advisory
22 Committee and the Drug Safety and Risk Management

1 Advisory Committee under the authority of the
2 Federal Advisory Committee Act of 1972. With the
3 exception of the industry representative, all
4 members and temporary voting members of the
5 committees are special government employee or
6 regular federal employees from other agencies and
7 are subject to federal conflict of interest laws
8 and regulations.

9 The following information on the status of
10 these committees' compliance with federal ethics
11 and conflicts of interest laws, covered by but not
12 limited to those found that 18 U.S.C. Section 208,
13 is being provided to participants at today's
14 meeting and to the public. FDA has determined that
15 members and temporary voting members of these
16 committees are in compliance with federal ethics
17 and conflict of interest laws.

18 Under 18 U.S.C. Section 208, Congress has
19 authorized FDA to grant waivers to special
20 government employees and regular federal employees
21 who have potential financial conflicts when it is
22 determined that the agency's need for a special

1 government employee's services outweighs his or her
2 potential financial conflict of interest, or when
3 the interest of a regular federal employee is not
4 so substantial as to be deemed likely to affect the
5 integrity of the services which the government may
6 expect from the employee.

7 Related to the discussions of today's
8 meeting, members and temporary voting members of
9 these committees have been screened for potential
10 financial conflicts of interest of their own as
11 well as just imputed to them, including those of
12 their spouses or minor children, and for purposes
13 of 18 U.S.C. Section 208, their employers. These
14 interests may include investments; consulting;
15 expert witness testimony; contracts; grants;
16 CRADAs; teaching, speaking, writing; patents and
17 royalties; and primary employment.

18 Today's agenda involves discussion of new
19 drug application NDA 209774 for an
20 immediate-release oral tablet formulation of
21 oxycodone, which is intended to resist common
22 methods of physical or chemical manipulation and to

1 deter intravenous and intranasal abuse, submitted
2 by SpecGx LLC for the management of pain severe
3 enough to require an opioid analgesic and for which
4 alternative treatments are inadequate.

5 The committees will also be asked to
6 determine whether the applicant adequately
7 demonstrated that the abuse deterrent properties of
8 the proposed product are sufficient enough to
9 include this information in the product label and
10 whether the product should be approved.

11 This is a particular matters meeting during
12 which specific matters related to SpecGX's NDA will
13 be discussed. Based on the agenda for today's
14 meeting and all financial interests reported by the
15 committee members and temporary running members, no
16 conflict of interest waivers have been issued in
17 connection with this meeting.

18 To ensure transparency, we encourage all
19 standing committee members and temporary voting
20 members to disclose any public statements that they
21 have made concerning the product at issue. With
22 respect to FDA's invited industry representative,

1 we would like to disclose that Dr. William Herring
2 is participating in this meeting as a nonvoting
3 industry representative acting on behalf of
4 regulated industry. Dr. Herring's role at this
5 meeting is to represent industry in general and not
6 any particular company. Dr. Herring is employed by
7 Merck & Company

8 We would like to remind members and
9 temporary voting members that if the discussions
10 involve any other product or firms not already on
11 the agenda for which an FDA participant has a
12 personal imputed financial interest, the
13 participants need to exclude themselves from such
14 involvement, and their exclusion will be noted for
15 the record. FDA encourages all other participants
16 to advise the committees of any financial
17 relationships that they may have with the firm at
18 issue. Thank you.

19 DR. BATEMAN: We'll now proceed with the
20 FDA's introductory remarks from Dr. Sharon Hertz.

21 **FDA Opening Remarks - Sharon Hertz**

22 DR. HERTZ: Good morning. Dr. Bateman,

1 members of the AADPAC and DSaRM committees, invited
2 guests, thank you all for attending this joint
3 meeting of these two advisory committees. We will
4 be discussing an application for a new
5 immediate-release formulation of oxycodone designed
6 with properties intended to deter abuse by the
7 nasal and intravenous routes using both physical
8 chemical barriers to manipulation and the
9 incorporation of aversive agents into the
10 formulation.

11 The proposed indication is the management of
12 pain severe enough to require an opioid analgesic
13 and for which alternative treatments are
14 inadequate. The term "inadequate treatments" is
15 further defined in the labeling, in the limitations
16 of use. And what we mean by that -- I just want to
17 take a moment to discuss this because there seemed
18 to be a little bit of confusion about the term at
19 prior meetings.

20 The intent of the way the labeling is
21 written and the way this language was developed is
22 we're trying to encourage a stepped approach to the

1 use of opioid analgesics. So alternative
2 treatments are inadequate means the use of a
3 non-opioid is either not appropriate or not
4 expected to be sufficient. And then the use of an
5 opioid/non-opioid combination is either not going to
6 be tolerated or not expected to be sufficient.

7 So the idea is to push progression or to
8 describe a progression of the use of products for
9 the management of pain. And it doesn't mean that
10 every patient has to be run through a series of
11 non-opioid combinations and then single-entity
12 opioids, but that the judgment of which product to
13 select when managing pain, that process should be
14 undertaken by the prescriber.

15 One potentially important step towards the
16 goal of creating safer opioid analgesics has been
17 the development of opioids formulated to deter
18 abuse, and we issued a final guidance describing
19 the development of opioid products with these
20 properties in 2015. We've had 10 products approved
21 with labeling describing abuse deterrent
22 properties, 9 extended-release and one

1 immediate-release product. Two of the
2 extended-release products have been withdrawn from
3 the market and two are listed as discontinued in
4 the Orange Book.

5 For the most part, these products have not
6 yet been widely adopted. This may reflect several
7 factors, including cost and a sense by prescribers
8 that it might be insulting to prescribe such a
9 product to a trusted patient.

10 Another factor that's likely is the lack of
11 evidence that abuse-deterrent opioid analgesic
12 products have had the intended effect of reducing
13 abuse. While there have been publications making a
14 number of such assertions, no company has actually
15 submitted the postmarketing data to support
16 labeling statements that abuse deterrent
17 formulations have the intended effect.

18 From our perspective, we're still hopeful
19 that this is the case, but we have not had an
20 opportunity to actually label a product with
21 postmarketing data. It's not clear whether this
22 arises from a failure to have an effect or a

1 failure to demonstrate an effect within the context
2 of many, many factors also attempting to work at
3 reducing the abuse of prescription opioid
4 analgesics.

5 Current trends in prescriptions dispensed
6 for opioids have been steadily declining over the
7 past few years, making it even more difficult for
8 these products to gain enough market share to
9 demonstrate an effect. Anecdotal reports suggest
10 that some practitioners misunderstand the term
11 "abuse deterrent" to mean that these products are
12 safer and less addictive to patients. This is a
13 dangerous misunderstanding, as many of these
14 products are still Schedule II opioids and retain
15 all the warnings and contraindications as
16 non-abuse-deterrent formulations.

17 Whether studies to support the presence of
18 an abuse deterrent effect pass or fail, the results
19 will be described in the labeling for all products
20 upon approval. It's important for prescribers to
21 understand the product performance to be able to
22 make an informed decision about the role of the

1 product in their practice of pain management.

2 There is currently a product with labeling
3 describing the negative results of studies that
4 were conducted to assess properties of the
5 formulation that were intended to deter abuse. The
6 labeling includes negative study results from in
7 vitro testing and human abuse potential studies
8 that state the studies failed to demonstrate
9 properties expected to deter abuse based on end
10 points specified in the above-mentioned guidance.
11 The label also includes language describing the
12 results of additional secondary endpoints not
13 described in the guidance and for which the
14 clinical significance is unknown.

15 As you may recall from prior advisory
16 committees, we have been improving our
17 understanding about how to evaluate these products.
18 Based on feedback from prior advisory committees,
19 the agency is now requesting that applicants
20 address the safety of excipients when administered
21 by unintended routes that is abused by the IV or
22 nasal route. And you'll hear a presentation by FDA

1 on this issue that discusses the safety of
2 excipients and how this relates to the unintended
3 routes that may be used in the context of abuse.

4 We've also learned that there can be
5 unintended consequences when abusers find ways
6 around the abuse-deterrent properties for these
7 formulations. Opana ER was reformulated to have
8 abuse-deterrent properties, however, abusers
9 learned how to manipulate the product for IV abuse
10 by a method that resulted in more sharing of
11 needles, and local outbreaks of HIV and hepatitis
12 infections ensued. Available data also suggest
13 that there was some shifting from the nasal to the
14 IV route of abuse, presenting greater risk of
15 overdose and death.

16 The results of the applicant's in vitro and
17 chemical manipulation assessments, and the in vivo
18 intranasal human potential study will be presented
19 during this meeting. You'll hear presentations
20 from the applicant and the agency regarding these
21 findings. However, for today's meeting, and
22 potentially for future meetings where

1 abuse-deterrent formulations are presented, we have
2 opted not to have a closed session.

3 This is not a decision based on the
4 specifics of this application. This is a separate
5 decision that we made. Having had a number of
6 applications for ADFs, our review staff has gained
7 a large amount of experience determining whether
8 the methods studied by applicants are appropriate
9 and adequate for the formulation. The mechanics of
10 keeping proprietary information confidential limit
11 the discussion of these methods in the context of
12 the results. So today, we will focus on the
13 results in the open session.

14 We will also have a presentation by FDA
15 staff on prescribing patterns for oxycodone
16 products and other opioid, as well as misuse and
17 abuse patterns, and we will have discussion of some
18 of the potential toxicities associated with some of
19 the excipients.

20 There are critics of approval of new
21 opioids, including ADFs when they are being
22 evaluated for the management of pain. However, as

1 I've discussed at previous meetings, we know that
2 there has been a steady decline in the number of
3 prescriptions over many years now in spite of an
4 increasing number of product approval, so the
5 existence of new products does not appear to be
6 increasing the market for opioids. They are just
7 increasing the options for which opioid a
8 prescriber may select.

9 This afternoon, we will ask you to discuss
10 whether the applicant has provided adequate support
11 for labeling abuse-deterrent properties for this
12 product; whether the benefits of the product at
13 issue outweigh its risks; and whether it should be
14 approved. As always, your advice and
15 recommendations will be essential in assisting us
16 with addressing this complex and critical public
17 health concern, and we're grateful that you've
18 agreed to join us for this important discussion and
19 taking time from your very busy schedules. Thank
20 you very much.

21 DR. BATEMAN: Both the Food and Drug
22 Administration and the public believe in a

1 transparent process for information gathering and
2 decision making. To ensure such transparency at
3 the advisory committee meeting, FDA believes that
4 it's important to understand the context of an
5 individual's presentation.

6 For this reason, FDA encourages all
7 participants, including the applicant's
8 non-employee presenters, to advise the committee of
9 any financial relationships that they may have with
10 the applicant such as consulting fees, travel
11 expenses, honoraria, and interest in a sponsor,
12 including equity interest and those based on the
13 outcome of the meeting.

14 Likewise, FDA encourages you at the
15 beginning of your presentation to advise the
16 committee if you do not have any such financial
17 relationships. If you choose not to address this
18 issue of financial relationships at the beginning
19 of your presentation, it will not preclude you from
20 speaking.

21 We'll now proceed with SpecGx LLC's
22 presentations.

Applicant Presentation - Martha Schlicher

DR. SCHLICHER: Good morning, everyone. My name is Martha Schlicher. I'm a vice president of research and development at Mallinckrodt Pharmaceuticals, and I'd like to thank the FDA and the advisory committee members here today for all the time you've already invested in preparing for today's meeting.

Let me start by describing why we're here today. Reducing opioid abuse is an important public health priority. One of FDA's initiatives to address the opioid crisis has been to encourage the development of opioid medications formulated to deter abuse. The FDA has recently stated that transitioning from the current market dominated by conventional opioids to one in which most opioids have abuse-deterrent properties holds significant promise for meaningful public health.

Mallinckrodt currently manufactures approximately 15 percent of the immediate-release, single-entity oxycodone tablets that are dispensed to patients in the United States. This includes

1 both Roxycodone and its generic equivalent. In
2 response to FDA's call for a market transition, we
3 have developed an abuse-deterrent formulation to
4 provide safeguards against both intranasal and
5 intravenous abuse.

6 Based on the results of our development
7 program, we are requesting approval for our
8 proposed indication with abuse-deterrent labeling
9 claims. If approved, with abuse-deterrent
10 labeling, we will replace all of our oxycodone and
11 generic immediate-release, single-entity oxycodone
12 tablets with this new abuse-deterrent formulation,
13 which we will call the ADF replacement for the rest
14 of our presentation.

15 ADF replacement tablets are manufactured
16 using a conventional, solid-dose manufacturing
17 process and come in find strengths that are
18 commercially available today. The tablets are hard
19 and non-brittle, providing resistance to physical
20 manipulation. Excipients produce a viscous
21 solution when a tablet is dissolved in small
22 volumes of aqueous solvents to deter IV abuse.

1 Aversive agents create nasal irritation to
2 discourage intranasal abuse.

3 This slide provides an overview of the
4 formulation components and their proposed
5 functions. All excipients in ADF tablets are
6 either generally regarded as safe or are used in
7 other FDA approved oral drug products as listed in
8 the inactive ingredient database.

9 I want to specifically mentioned
10 polyethylene oxide, or PEO, which imparts hardness
11 and gelling properties. It's really important to
12 note that our ADF does not have any of the high
13 molecular weight PEO that was used in Opana ER,
14 which was associated with safety risks when
15 injected. Our formulation contains less than 2
16 percent of a high molecular weight PEO, very
17 similar to that used in commercially available
18 OxyContin, but at over 20 times lower amounts.

19 The ADF replacement has been submitted for
20 FDA approval as an NDA under the 505(b)(2)
21 regulatory pathway. This pathway requires
22 bioequivalence between the ADF and Roxicodone to

1 establish therapeutic equivalence of the two
2 products. The FDA has concurred with our
3 assessment that the ADF is bioequivalent to
4 Roxicodone.

5 The ADF contained the same active ingredient
6 and comes in the same oral dosage form as
7 Roxicodone, therefore, if approved, it would
8 receive the same indication, an opioid agonist
9 indicated for the management of pain severe enough
10 to require an opioid analgesic and for which
11 alternative treatments are inadequate.

12 What would separate the ADF replacement
13 label from Roxicodone would be its nasal and IV
14 abuse-deterrent designations. We have performed
15 the full set of studies outlined in FDA's guidance
16 for abuse-deterrent opioids and incorporated
17 feedback from the agency throughout the
18 development. Let me provide a brief summary of our
19 findings.

20 In terms of intranasal abuse, our ADF
21 tablets resist physical manipulation. In a human
22 abuse potential study, the ADF reduced positive

1 effects at early time points such as liking and
2 high. The aversive agents made the tablet
3 difficult to snort and caused pain and burning, and
4 subjects ultimately did not express willingness to
5 snort the ADF again.

6 In terms of IV abuse, the ADF has multiple
7 gelling agents to make syringeability difficult.
8 It resisted all common methods for abuse, and a
9 multistep procedure with advanced techniques was
10 required to achieve an appreciable yield of
11 syringes oxycodone. We have also conducted a
12 series of excipient safety studies, and we have not
13 found evidence of overt toxicity from injection of
14 those extracts.

15 Overall, the findings from our program
16 provide evidence that our ADF replacement can be
17 expected to reduce intranasal and IV abuse compared
18 to the products it would replace.

19 Mallinckrodt is committed to the opioid REMS
20 requirements. These include the minimum
21 requirements such as a medication guide; various
22 elements to assure safe use, including training and

1 education activities; as well as providing regular
2 REMS assessments to the FDA. While REMS are
3 clearly necessary,
4 we think that we can and need to do more.

5 Mallinckrodt is committed to additional
6 postmarket activities to provide important and
7 meaningful information. We will perform enhanced
8 pharmacovigilance with tailored adverse event
9 questionnaires as well as web monitoring so that we
10 can thoroughly evaluate any potential safety
11 signals right away.

12 We're also proposing to collect additional
13 data on both intended and unintended use. In terms
14 of intended use, we want to understand how our
15 transition impact prescribing patterns. And in
16 terms of unintended use, we will monitor street
17 price data, drug user chat rooms, and poison
18 control center data to understand real-world abuse
19 patterns.

20 We are currently conducting physician focus
21 groups to understand knowledge gaps to find out how
22 to better educate about ADFs and to ensure that the

1 limitations of these products are well understood.
2 Our proposed transition also provides a unique
3 opportunity to evaluate the public health benefits
4 of a product with these safeguards.

5 We recognize that the lack of data on
6 real-world impact has been a past frustration of
7 this committee. Our proposed transition of a
8 sizable proportion of the market could provide
9 answers to many of the important outstanding
10 questions in Category 4 postmarket studies.

11 Here is the agenda for the rest of our
12 presentation today. Dr. Richard Dart will discuss
13 the public health need for abuse-deterrent,
14 immediate-release opioids. Dr. Ed Cone will review
15 the results of our Category 1 studies. Dr. Mike
16 Orr will present the results from our excipient
17 safety studies, and Dr. Sandy Comer will review the
18 results of our intranasal human abuse potential
19 study. Lastly, Dr. Jeff Gudín will conclude the
20 presentation with his clinical perspective. We
21 also have Dr. Lynn Webster, another abuse-deterrent
22 expert, here with us today to help answer

1 questions.

2 All of our external experts or their
3 institutions have been compensated for their time
4 and travel expenses, and none have an equity
5 interest in today's outcome. I'll now invite
6 Dr. Dart to the lectern.

7 **Applicant Presentation - Richard Dart**

8 DR. DART: Good morning. My name is Rick
9 Dart. I'm the director of the Rocky Mountain
10 Poison and Drug Center and a professor at the
11 University of Colorado. I'm also executive
12 director of the RADARS system, which studies
13 prescription drug abuse and diversion in the United
14 States. My presentation will discuss the public
15 health need for effective abuse-deterrent,
16 immediate-release opioids.

17 Let's start with a common view of opioid
18 abuse and addiction. There are certainly other
19 pathways, so please consider this diagram simply as
20 a framework for discussion. As we would expect, a
21 person's first exposure occurs when they receive a
22 prescription for a pain medicine or a new

1 recreational user decides to abuse an opioid
2 analgesic.

3 Most people start by swallowing intact
4 tablets, but some individuals will go on to crush
5 the drug in order to snort or inject it. It's
6 important to realize that many users advance from
7 oral to intranasal to injection, and then to
8 injection abuse. Any of these abuse behaviors may
9 lead to an adverse outcome, and to address abuse,
10 several interventions have been implemented recent
11 years. For example, prescriber guidelines,
12 prescription drug monitoring programs, and law
13 enforcement activities have all been employed as
14 part of the effort.

15 Now, once an opioid is going to be
16 prescribed, I think we can all agree that we want
17 that drug to be as safe as possible. To that end,
18 the FDA has promoted the development of opioids
19 with abuse-deterrent properties. By physically
20 resisting crushing, by releasing antagonists,
21 by adding excipients that make it unpleasant to
22 snort, or by forming a gooey mess when mixed with

1 water, abuse-deterrent formulations make it much
2 more difficult to abuse an opioid intranasally or
3 intravenously.

4 It's critical that we set reasonable
5 expectations about what ADFs can and what they
6 can't do. ADFs can reduce intranasal or IV abuse
7 of a specific product. They can make diversion
8 less attractive, and if someone encounters an ADF
9 early on, we hope that it will deter them from
10 initiating abuse by snorting or injecting.

11 You may have heard this analogy in the past.
12 If we think of opioids like a car, then ADFs are
13 like airbags or seat belts. They can reduce injury
14 and death, but they can't really completely prevent
15 it. On the other hand, we have to think about what
16 ADFs cannot do. They can't stop an individual from
17 snorting or injecting an alternative drug or reduce
18 oral over consumption.

19 ADFs impact misuse and abuse patterns
20 differently for different individuals. For pain
21 patients who need a prescription opioid to manage
22 their pain, ADFs make their medicine less

1 attractive for misuse and diversion. For a novice
2 user who is experimenting with opioids, an
3 abuse-deterrent formulation may deter them from
4 initiating the dangerous routes of intranasal and
5 IV abuse.

6 For individuals with severe opioid-use
7 disorder, an abuse-deterrent formulation may deter
8 them from snorting or injecting that specific
9 product, but it's not going to stop their
10 underlying opioid abuse problem. They will likely
11 switch to another drug or temporarily switch back
12 to oral abuse. What these individuals need is
13 treatment for their opioid-abuse disorder.

14 So an abuse deterrent product can't stop
15 abuse, but it's very clear, from both quantitative
16 data as well as chat rooms and blogs, that
17 abuse-deterrent products do create significant
18 barriers to risky routes of abuse. Now, let's take
19 a look at some of that data.

20 Immediate-release opioids are frequently
21 abused and diverted. In the RADARS Poison Center
22 program, immediate-release opioids are involved in

1 abuse cases more than 4 times as often as
2 extended-release products and are involved in
3 diversion more than 6 times as often. The reason
4 that immediate-release, single-entity products like
5 Roxicodone are preferred over extended-release
6 opioids is due to the immediacy of the high and the
7 ease of snorting or injecting. When individuals
8 are asked why abuse is easier, they cite the lack
9 of abuse-deterrent properties like gelling or
10 hardness, as well as the absence of other
11 ingredients that they don't want to snort or
12 inject, like acetaminophen.

13 The same profile we see for
14 immediate-release and extended release opioids
15 holds true for the specific case of oxycodone.
16 Among individuals entering substance abuse
17 treatment in the NAVIPPRO system, the rate of abuse
18 of immediate-release, single-entity oxycodone is
19 double the rate of abuse of extended-release
20 oxycodone.

21 In terms of the route of abuse,
22 approximately half of individuals who reported

1 abusing immediate-release, single-entity oxycodone
2 abused it by the oral or intranasal routes and 25
3 percent reported IV abuse. So intranasal and IV
4 abuse is certainly a problem with products like
5 Roxicodone and all of the generic IR oxycodone
6 products.

7 IV abuse is of particular concern because
8 6 percent of new HIV diagnoses and 9 percent of new
9 AIDS diagnoses are attributed to IV drug abuse, and
10 injecting an opioid also puts the user at risk for
11 other bloodborne infections like hepatitis and
12 endocarditis, but also blood clots and other
13 adverse health effects.

14 In summary, the ultimate goal is to produce
15 the safest product possible for each type of opioid
16 analgesic. ADFs offer a mechanism to deter abuse
17 by non-oral routes. Unfortunately to date, ADFs
18 have claimed a tiny portion of the opioid market.
19 The FDA has advocated for transitioning the opioid
20 market to one where most products have
21 abuse-deterrent properties, and they've clearly
22 established a pathway for approval for formulations

1 with these significant safeguards against abuse.

2 I believe we should all be striving to get
3 to a place where all opioid products have
4 abuse-deterrent properties so that the user cannot
5 simply switch back and forth between opioid
6 products to snort or inject their drug.

7 Thank you, and I'll now turn the
8 presentation over to Dr. Cone.

9 **Applicant Presentation - Edward Cone**

10 DR. CONE: Good morning. My name is Edward
11 Cone. I'm a principal scientist at Pinney
12 Associates. My expertise is in the chemistry,
13 pharmacology, and the design and execution of
14 Category 1 studies of abuse-deterrent opioids.
15 Prior to joining Pinney
16 Associates, I spent 26 years as a commissioned
17 officer and chief of the chemistry section at the
18 National Institute on Drug Abuse.

19 The Category 1 studies evaluated the
20 physiochemical properties of the ADF replacement
21 that makes intranasal and IV abuse more difficult.
22 The studies were designed in accordance with the

1 FDA guidance document on abuse-deterrent opioids
2 and in consultation with the agency.

3 Since the ADF is intended as a replacement
4 for non-ADF products, Roxicodone was used as the
5 comparator. Since this is an open meeting, the
6 details of methodologies will not be discussed.
7 I'll start with particle size reduction.

8 Unlike extended-release opioids,
9 particle-size reduction does not substantially
10 change the oral release profile of
11 immediate-release opioids. Therefore, the main
12 reasons an individual would try to reduce the
13 particle size of an immediate-release opioid would
14 be for snorting and injecting.

15 The goal of the particle-size reduction
16 study was to identify the methods required to
17 produce the smallest particle size. The ability to
18 crush, cut, grate, grind, or mill Roxicodone and
19 the ADF were evaluated using different levels of
20 manipulation. Four levels were formally evaluated.
21 The optimal time for manipulation was determined by
22 testing until no further particle size reduction

1 occurred. The most effective manipulation for each
2 product was then used in the human abuse potential
3 study.

4 Let's look at the results. This graph will
5 show the average percentage of particles that were
6 less than 500 microns. That's a particle size
7 which is considered amenable for snorting for each
8 of the 4 levels of manipulation. Roxicodone was
9 easily manipulated into small particles using the
10 two lowest levels of manipulation. In contrast,
11 neither of these were able to reduce the ADF
12 replacement to small particles.

13 Because Roxicodone was easily manipulated in
14 levels 1 and 2, it was not further evaluated at the
15 higher levels. With the ADF, level 3 produced few
16 small particles. Level 4 was the only manipulation
17 that yielded a high percentage of small particles.
18 However, this did not defeat its abuse-deterrent
19 properties. As you'll see later in the
20 presentation, the aversive agents made the ground
21 product unpleasant to snort, and ground material
22 was very difficult to syringe.

1 Next, I'll discuss the small volume
2 extraction and syringeability studies. The
3 rationale for these studies were to determine the
4 conditions that are necessary to achieve a
5 high-yield and syringeable oxycodone. Since ADF
6 opioid medications must be available to treat pain,
7 the abuse-deterrent properties of any ADF can be
8 overcome with sufficient time, effort, materials,
9 and knowledge. Given that any ADF can only be
10 abuse deterrent and not abuse proof, the goal of
11 the small volume extraction and syringeability
12 testing was to determine the extent of the work
13 required to overcome the abuse-deterrent properties
14 and whether these barriers can be expected to deter
15 IV abuse.

16 With that goal in mind, pretreatment
17 conditions and advanced techniques were
18 specifically selected to challenge the
19 abuse-deterrent properties of the ADF. 1836
20 separate combinations of both common and advanced
21 conditions were performed, and with more than 5,000
22 samples being tested. Testing was conducted in an

1 iterative fashion and in consultation with the FDA
2 to ensure that the ADFs deterrents had been fully
3 characterized.

4 288 combinations of common conditions were
5 evaluated for both intact and ground ADF
6 replacement and Roxicodone 30-milligram tablets.
7 These studies used the most frequently used solvent
8 for extraction and various temperatures, needles,
9 agitation volumes, and extraction times.

10 1,548 combinations of advanced conditions
11 with various pretreatments and other directly
12 injectable solvents were further evaluated for the
13 ADF only. This slide shows a summary of all common
14 methods using ground tablets. For the ADF, common
15 methods didn't work. Ninety-eight percent of
16 conditions resulted in less than 5 percent
17 syringeable oxycodone.

18 In contrast, Roxicodone could easily be
19 prepared for injection with common methods. In
20 most cases, the yield was substantial and could be
21 done within minutes. Since little oxycodone could
22 be recovered from the ADF with common methods,

1 scientists used advanced techniques to further
2 challenge the abuse-deterrent properties.

3 Let's look at the results. The Y-axis shows
4 the percent recovery of oxycodone for each
5 pretreatment. The blue dots represent the median
6 percent recovery and the bar show the range. IV
7 pretreatments one and two did not increase yields
8 beyond the common methods. The median yield for IV
9 pretreatment 3 was 1 percent with a maximum
10 recovery of 35 percent.

11 With IV pretreatment 4, the median yield was
12 10 percent with a maximum recovery of 60 percent.
13 Advanced conditions were required to obtain the
14 maximum yields from the ADF. It required a
15 specific tool, tablet pretreatment, larger
16 extraction volumes, long extraction times, elevated
17 temperature, large needles, and a large injection
18 volume. This extensive procedure would have taken
19 an individual over an hour and considerable effort
20 to perform. This information was used to
21 inform the design of the nonclinical excipient
22 safety studies, which will be presented next.

1 Overall, the results demonstrate that the
2 ADF has physical and chemical barriers that would
3 make intranasal and IV abuse much more difficult
4 than the product it is intended to replace. The
5 ADF was difficult to crush, and even if
6 manipulated, particle-size reduction did not defeat
7 the abuse-deterrent properties. Additionally, it
8 formed a viscous gel that was difficult to draw
9 into a syringe creating a substantial barrier for
10 IV injection.

11 Thank you for your attention. I'll now turn
12 the presentation over to Dr. Orr to present the
13 results of the excipient safety study.

14 **Applicant Presentation - Mike Orr**

15 DR. ORR: Good morning. I'm Mike Orr. I'm
16 a consultant pharmacologist and toxicologist.
17 Prior to becoming a consultant three years ago, I
18 spent 10 years at the FDA in various roles as a
19 pharmacology and toxicology reviewer, team lead,
20 and branch chief. I have reviewed all findings
21 from the nonclinical excipient safety studies, and
22 I'm here to provide my interpretation of the

1 results.

2 All of the excipients used in the ADF
3 replacement are safe for oral use, the intended
4 route of administration. Recently, there have been
5 concerns about the safety of excipients and
6 abuse-deterrent formulations when abused via the IV
7 route. Studies have shown that repeated injection
8 of the high molecular weight PEO and OPANA ER was
9 associated with a variety of serious safety issues
10 included in thrombotic microangiopathy.

11 PEO is an excipient used in many marketed
12 ADFs to impart physical hardness and gelling
13 properties to deter nasal and IV abuse. The
14 molecular weight and amounts of PEO vary between
15 products. As Dr. Schlicher mentioned earlier, the
16 ADF replacement does not contain any of the high
17 molecular weight PEO that was used in Opana ER.
18 However, to ensure that the introduction of an ADF
19 does not have unintended consequences, nonclinical
20 studies are now performed to assess the risk of
21 injection prior to marketing.

22 The sponsor performed a series of general

1 toxicology studies to understand the safety profile
2 of the ADF when administered via the IV route. The
3 nonclinical excipient safety studies were designed
4 in consultation with the FDA. The in vitro blood
5 compatibility studies evaluated hemolytic
6 potential, plasma compatibility, platelet
7 aggregation. The in vivo study evaluated multiple
8 dose IV toxicity in rabbits.

9 All studies evaluated to test articles of
10 the ADF, which were selected based on the
11 conditions that achieved the highest yields of
12 syringeable oxycodone using two different
13 pretreatment methods. First, I'll start with the
14 in vitro blood compatibility studies.

15 The ADF replacement extracts did not exhibit
16 in vitro hemolysis. The hemoglobin levels for test
17 articles 1 and 2 were low and similar to the
18 negative control. A positive result for this assay
19 was considered to be a hemoglobin concentration of
20 500 mgs per deciliter more than the negative
21 control, so only the positive control met the
22 prespecified definition for hemolysis.

1 The ADF replacement extracts did not exhibit
2 any evidence of human plasma incompatibility.

3 There are no macro or micro observations for test
4 article 1. Following addition of test article 2 to
5 human plasma, the sample was considered cloudy
6 based on macroscopic appearance, and particles in
7 the plasma were noted microscopically. This
8 observation was likely due to the presence of
9 finely suspended particles that were noted in the
10 test article 2 prior to performing the assay. It
11 was determined that test articles 1 and 2 were both
12 negative for protein flocculation.

13 The addition of test article 1 or test
14 article 2 to human platelet rich plasma did not
15 increase platelet aggregation. Results were
16 similar to the negative control and were within the
17 normal reference range for healthy blood donors.

18 Next, I would like to summarize the results
19 of the animal study, which evaluated the local and
20 systemic effects of the ADF abstracts following
21 daily IV injections. Twelve female rabbits were
22 randomized equally to one of three dosing groups,

1 test article 1, test article 2, or the control
2 article, which was 0.9 percent sodium chloride.

3 Each animal was administered dosage volume
4 of 1 mL per kilogram by bolus in a marginally ear
5 vein once daily for 3 days. The dose volume was
6 selected based on the tolerability profile of
7 oxycodone in this species. The dose volume in
8 rabbits is approximately 10-fold higher relative to
9 the humans based on body surface area or 58-fold
10 higher based on mL per kilogram.

11 Each animal was monitored at least twice
12 daily, and any abnormal findings -- mortality,
13 pain, or distress -- were recorded. A full panel
14 of clinical pathology tests were performed,
15 including hematology, coagulation, clinical
16 chemistry, and urinalysis. Standard panel of
17 tissues were also collected and select organs were
18 evaluated microscopically.

19 In vivo, there was no evidence of overt
20 toxicity or tissue damage. The ADF test articles
21 were not associated with signs or symptoms of
22 thrombotic microangiopathy. Noteworthy

1 observations included statistically significant
2 1.5-fold increase in fibrinogen and a 50 percent
3 increase in spleen weights only seen for test
4 article 2. Neither the increase in fibrinogen nor
5 the increase in spleen weight were considered
6 adverse by an independent pathologist. And in
7 terms of microscopic findings, minimal to slight
8 microscopic pathology observations were seen, but
9 the independent pathologist did not consider these
10 minimal changes in the organs to be adverse in the
11 context of the study findings.

12 Thank you. I'll now turn the lectern to
13 Dr. Comer.

14 **Applicant Presentation - Sandra Comer**

15 DR. COMER: Thank you. Good morning. My
16 Name is Sandy Comer. I'm a professor of
17 neurobiology in the Department of Psychiatry at
18 Columbia University. My research has focused on
19 testing novel compounds for the treatment of
20 opioid-use disorder and studying the relationship
21 between pain and opioid abuse. Today, I will
22 review the results from Mallinckrodt's intranasal

1 human abuse potential study.

2 Before we get started, I think it's
3 important to understand why an individual would
4 choose to snort an opioid tablet as opposed to
5 simply taking it orally. By bypassing first-pass
6 metabolism, snorting allows for faster entry of the
7 opioid into the bloodstream and the brain. This
8 leads to a faster onset of positive effects such as
9 liking and high.

10 Intranasal and oral administration actually
11 have similar maximum positive effects. The
12 motivation for snorting and IR opioid is getting a
13 faster onset of positive effects, therefore a major
14 focus of my presentation will be the effects at
15 early time points.

16 With this background in mind, let's talk
17 about what abuse deterrence means in the context of
18 intranasal abuse. On one hand, we have the
19 positive effects like drug liking or drug high, and
20 on the other hand, we have the potentially negative
21 effects measured by the ease of snorting or other
22 adverse nasal effects like pain or burning. Taken

1 together, we look at how subjects integrate the
2 positive and negative effects in assessing the
3 overall drug taking experience by asking them to
4 rate their overall drug liking and how likely they
5 would be to take the drug again.

6 ADFs can work by either reducing the
7 positive effects, or by creating negative effects
8 with aversive agents that make snorting unpleasant,
9 or by a combination of these approaches.
10 Regardless of the mechanism, what is most important
11 is that the ADF makes individuals less likely to
12 abuse by the intranasal route, which we measured
13 directly by asking them whether they would take the
14 drug again if given the opportunity.

15 The intranasal study was a randomized,
16 double-blind, double-dummy, 4-period crossover
17 study in non-dependent recreational opioid users
18 with intranasal experience. In the qualification
19 phase, subjects first underwent a naloxone
20 challenge test to ensure that they were not
21 physically dependent on opioids. Subjects then had
22 to pass a drug discrimination test to ensure that

1 they can discriminate between an intranasal dose of
2 15 milligrams of Roxicodone and placebo.

3 Ultimately, 38 subjects completed the study.

4 In the treatment phase, subjects received
5 all four treatments in a random order with a
6 72-hour washout period. The three active
7 treatments were intact oral ADF, intranasal ADF,
8 and crushed intranasal Roxicodone. For the placebo
9 treatment, subjects received both intranasal
10 placebo powder as well as an oral placebo.

11 The primary endpoint of the study was
12 drug-liking Emax. Emax is simply the maximum score
13 for each subject regardless of the time it occurred
14 anywhere between 15 minutes to 12 hours post-dose.
15 Key secondary assessments included drug liking,
16 drug high, the ease of snorting assessment, the
17 nasal effects questionnaire, overall drug liking,
18 and take drug again.

19 All secondary assessments were evaluated
20 independently without any ranking assignment. Take
21 drug again and overall drug liking are especially
22 important because both measure the subject's whole

1 experience 12 and 24 hours after administration.

2 Let's move to the results starting with the
3 pharmacokinetics. This figure will show the mean
4 plasma concentrations over the first 2 hours, which
5 is the most relevant time frame for intranasal
6 abuse. When administered orally as intended, the
7 ADF had a PK profile that was expected for an IR
8 opioids.

9 Intranasal Roxicodone had a more rapid rise
10 in plasma concentrations than oral administration.
11 The intranasal ADF had significantly lower
12 oxycodone concentrations than Roxicodone at many of
13 the early time points, with concentrations similar
14 to or lower than oral administration. As mentioned
15 earlier, the Cmax or maximum concentrations of
16 oxycodone were similar for the oral and intranasal
17 treatments.

18 Let's turn now to the pharmacodynamic
19 results. As described, the positive effects
20 include measures of drug liking and drug high. The
21 primary endpoint for this study was the same as for
22 all prior ADFs, drug liking Emax or the maximum

1 drug liking at any time point. What's different
2 about this study is that it's the first to use a
3 superiority margin.

4 All abuse-deterrent formulations approved to
5 date have needed to show that drug-liking Emax was
6 significantly lower for the ADF than the non-abuse
7 deterrent comparator. This is often referred to as
8 superiority.

9 The FDA guidance document now requires that
10 sponsors include a superiority margin. This means
11 that drug-liking Emax for the ADF not only has to
12 be statistically significantly less than the
13 comparator, but it has to be significantly less by
14 a specific margin. This is often referred to as
15 super superiority.

16 In this study, the superiority margin was
17 set at 10 percent. To measure drug liking,
18 subjects were asked, "Do you like the drug effect
19 you're feeling now?" A score of 50 represents a
20 neutral response, 100 is strong liking, and 0 is
21 strong disliking.

22 Based on FDA's analysis shown here, the

1 reduction in drug-liking Emax was not significantly
2 lower than Roxicodone by the superiority margin.
3 As you can see, the p-value for super superiority
4 was point 014. However, drug-liking Emax was
5 significantly lower for the ADF and Roxicodone
6 using the standard analysis for superiority with a
7 p-value of 0.0039. The important takeaway from
8 this slide is that the maximum drug liking was
9 relatively similar for all the active treatments
10 but slightly lower for the intranasal ADFs.

11 Next, let's look at drug liking over time
12 since the motivation for snorting is to achieve
13 faster onset of positive effects in oral
14 administration focusing on the first 2 hours. Drug
15 liking for placebo remain neutral at approximately
16 50. The oral ADF showed a characteristic gradual
17 rise in drug liking. Intranasal Roxicodone had a
18 considerably more rapid increase in drug liking
19 than oral administration. This difference at
20 15 minutes illustrates why people prefer snorting
21 over taking a tablet orally.

22 The time to maximum drug liking was

1 significantly delayed by nearly an hour with the
2 intranasal ADF compared to Roxicodone.

3 Furthermore, if you look at just the two intranasal
4 treatment arms, we can see that the ADF had
5 significantly lower mean drug liking throughout the
6 first hour and a half, which is the time frame
7 users would expect to experience the most drug
8 liking after insufflation.

9 Similar results were observed for drug high,
10 which can be found in both FDA's and the sponsor's
11 briefing books. The primary method of abuse
12 deterrence for the ADF is the negative effects from
13 the aversive agents. Ease of snorting was assessed
14 within 5 minutes of insufflation using a unipolar
15 visual analog scale where zero indicates very easy
16 to snort and 100 is very difficult. The mean score
17 for Roxicodone was 11, meaning it was easy to
18 snort. In contrast, the mean score for the ADF was
19 84, indicating that the participants found it much
20 more difficult to snort.

21 Another measure of negative effects is the
22 Nasal Effects Questionnaire. This questionnaire

1 evaluates several different negative aspects of the
2 intranasal drug-taking experience over time.

3 Ninety five percent of subjects experienced at
4 least one adverse nasal effect with the ADF, and 79
5 percent of subjects experienced an adverse effect
6 that was moderate or severe.

7 Nearly half of the subjects rated moderate
8 to severe effects for facial pain and pressure
9 after snorting the ADF compared to 3 percent for
10 oxycodone. This trend was consistent for all
11 assessments, including nasal congestion, runny nose
12 and nasal discharge, the need to blow one's nose,
13 irritation, and burning.

14 So at early time points when individuals would be
15 expecting a pleasurable experience, they're
16 actually experiencing nasal pain, irritation, and
17 burning.

18 To assess the overall drug-taking experience
19 and predict future behavior, participants are asked
20 12 or 24 hours after administration how much they
21 liked the drug overall and whether they would take
22 the drug again. This slide will show overall drug

1 liking after 24 hours. This measure is different
2 from the measure of at-the-moment drug liking or
3 Emax, which I discussed earlier.

4 Not surprisingly, participants reported high
5 overall liking to snorting Roxicodone with similar
6 scores for the oral ADF replacement. Overall drug
7 liking for placebo was neutral as expected. The
8 intranasal ADF was associated with significantly
9 lower overall drug-liking scores compared to
10 intranasal Roxicodone with scores that were similar
11 to placebo.

12 For the take drug again assessment,
13 participants were asked, would you want to take the
14 drug you just received again if given the
15 opportunity? A score of 100 means they definitely
16 would; 50 means that they didn't care one way or
17 another; and zero means they definitely would not.

18 Participants reported high willingness to
19 snort Roxicodone again with similar scores for the
20 ADF taken orally. Willingness to take placebo
21 again was neutral. The intranasal ADF was
22 associated with a 30-point lower take drug again

1 score compared with Roxicodone, which was
2 numerically lower than placebo. This is the most
3 important finding of this study. Subjects had no
4 greater willingness to snort the ADF again than
5 they had to snort placebo powder.

6 To summarize, in terms of positive effects,
7 the maximum drug-liking scores for the ADF were
8 significantly lower than Roxicodone, but were not
9 super superior at the prespecified margin. At
10 early time points, which are the motivation for
11 nasal abuse, drug Liking and high scores were
12 significantly lower with the ADF.

13 In terms of negative effects, the ADF
14 replacement was difficult to snort and aversive
15 agents caused unpleasant sensations, including
16 burning, irritation, and pain. When participants
17 had the opportunity to reflect on the overall
18 experience, their overall drug liking for the ADF
19 replacement was similar to placebo. And when asked
20 to predict their future behavior, they did not
21 report wanting to snort the ADF again.

22 Thus, the data show that the ADF can be

1 expected to deter intranasal abuse relative to the
2 products it would replace like Roxicodone. Despite
3 not making the superiority margin for drug-liking
4 Emax, take drug again contextualizes the positive
5 and negative effects. When individuals were asked
6 whether they would snort the ADF replacement again,
7 they did not express a willingness to do so. This
8 is the most important consideration for the
9 deterrence of a drug. The totality of findings
10 from this study provide important information to
11 consider as we evaluate the abuse deterrence of new
12 products in the future.

13 Thank you. I would now like to turn the
14 lectern over to Dr. Gudin.

15 **Applicant Presentation - Jeff Gudin**

16 DR. GUDIN: Good morning. My Name is
17 Dr. Jeff Gudin. I'm the director of pain
18 management and palliative care at the Englewood
19 Hospital and Medical Center in New Jersey and
20 clinical associate professor of anesthesiology at
21 the Rutgers New Jersey Medical School.

22 I've treated patients with pain as well as

1 addiction disorders for more than 20 years, and
2 I've published throughout my career on safe
3 prescribing and appropriate risk management for
4 opioid analgesics. I'm here today to provide my
5 clinical perspective on the ADF replacement and on
6 the FDA's questions posed to you today.

7 Pain treatment guidelines, including those
8 by the CDC, support opioids as an option for
9 patients when other treatment options are
10 inadequate. As a prescriber, I'm acutely aware of
11 the dangers of opioids, not just to my patients but
12 to their entire community. I usually feel
13 comfortable evaluating the potential risk of abuse
14 of the patient sitting in front of me, but I cannot
15 control what happens to the medications once they
16 are dispensed. We know that the end users of
17 prescription opioids may not be our patients.

18 ADF safeguards, therefore, against abuse are
19 not only for patients but for anyone with access to
20 their medicine cabinet, and this is a major
21 consideration when thinking about the role of
22 abuse-deterrent formulations.

1 My goal for this meeting is to provide my
2 clinical perspective on each of the questions under
3 consideration today. First, can the ADF
4 replacement be expected to deter abuse by the nasal
5 or IV routes of administration? Next, what is the
6 potential public health impact of the ADF
7 replacement on misuse and abuse of opioids? And
8 finally, should the ADF replacement receive FDA
9 approval?

10 When answering these questions, I think it's
11 important to remember that this is not another
12 opioid that would simply be added to all of the
13 currently available options. If approved, this
14 would replace products without abuse deterrent
15 properties that are already on the market today.

16 Starting with the first question, can the
17 ADF replacement be expected to deter abuse by the
18 nasal route of administration? I think we have
19 three different approaches to assess this: the
20 tablet's physical and chemical properties, the
21 intranasal study, and the precedent set by
22 RoxyBond, the only FDA-approved, immediate-release,

1 abuse-deterrent formulation, which this advisory
2 committee recommended for approval last year.

3 RoxyBond set a high bar for abuse
4 deterrence, so the results from their studies
5 provide context for evaluating this ADF
6 replacement. As you've heard, the ADF replacement
7 has physical and chemical properties to deter
8 intranasal abuse. In terms of physical properties,
9 you saw ADF tablets were difficult to get into an
10 abusable form for snorting. This is in contrast to
11 Roxicodone, which was easily manipulated with
12 simple tools.

13 For a young person experimenting with
14 tampering, just this physical barrier alone may
15 stop them from nasal abuse with the product. But
16 even if they overcome the physical barrier, there
17 is still the chemical barrier with aversive agents
18 that cause nasal pain and burning during the time
19 when those abusing would be expecting to feel the
20 greatest high. This is in contrast to snorting
21 Roxicodone, which is pleasurable within minutes and
22 contains no agents to discourage intranasal abuse.

1 Next, the human abuse potential study also
2 demonstrates that the ADF can be expected to deter
3 nasal abuse. In terms of drug-liking Emax, the ADF
4 had an average score that was 6 points lower than
5 Roxicodone. Data from RoxyBond's intranasal study
6 can also be used to provide perspective. We have
7 to be careful about making cross-study comparisons,
8 but RoxyBond does provide a relevant anchor for
9 abuse-deterrent labeling.

10 RoxyBond's drug-liking Emax was 12 points
11 lower than Roxicodone. This larger difference
12 would be expected since RoxyBond's deterrence works
13 by slowing and lowering drug levels, thereby
14 reducing positive effects. In terms of
15 take-drug-again Emax, where participants reported
16 their willingness, the ADF replacement score was 31
17 points lower than Roxicodone. And recall, the mean
18 score was less than 50, which is neutral. This
19 lack of willingness to take again is consistent
20 with the impact of the aversive agents.

21 For RoxyBond, the take-drug-again scores
22 were 20 points lower than Roxicodone. This

1 difference is substantial but was somewhat less
2 than the ADF, which may be due to the fact that
3 RoxyBond does not contain aversive agents. Both of
4 these products should be expected to successfully
5 reduce intranasal abuse. The scores on the key
6 endpoints were consistent with each formulation's
7 primary mechanism of deterrence, either reducing
8 positive effects in the case of RoxyBond or
9 creating negative effects in the case of the ADF
10 replacement.

11 Let's turn to the next question. Can the
12 ADF be expected to deter abuse by the IV route of
13 administration? Here, I think we also have three
14 different approaches to assessing the question, the
15 physical and chemical properties of the tablets;
16 the sponsor's Category 1 studies; and the precedent
17 relative to RoxyBond, which has an IV abuse-
18 deterrence claim.

19 The physical barriers for IV deterrence are
20 the same as we already presented for nasal
21 deterrence. The ADF is clearly more difficult to
22 get into an abusable form than the product it would

1 be replacing. In terms of chemical barriers, the
2 ADF has multiple gelling agents that are intended
3 to make injection difficult while Roxicodone and
4 non-ADF products have no barriers to injection.

5 The Category 1 studies demonstrated that the
6 ADF was difficult to syringe with low yields of
7 oxycodone in the vast majority of conditions and
8 required advanced conditions for IV abuse. Again,
9 I look back to the RoxyBond data to put this ADF
10 data in context. In the worst-case scenario, 60
11 percent yield could be achieved with the ADF
12 replacement and a 66 percent yield could be
13 achieved with RoxyBond.

14 Obviously, no formulation can be abused
15 proof, but the fact that extensive multi-step
16 processes were required to achieve these worst-case
17 scenarios suggests that both RoxyBond and the ADF
18 replacement can be expected to deter injection.

19 Next question is about concerns regarding
20 the public health impact of the ADF replacement on
21 misuse and abuse of opioids. I'd like to briefly
22 walk through a benefit-risk analysis for some of

1 the common public health concerns that have been
2 raised about abuse-deterrent formulations.

3 First, there is a concern that the uptake of
4 ADFs will be low limiting their public health
5 impact. If approved, this ADFs would replace the
6 currently marketed branded and generic
7 immediate-release, single-entity oxycodone tablets
8 manufactured by Mallinckrodt. This would be a step
9 towards FDA's goal of transitioning the
10 prescription opioid market to one where most
11 products have meaningful abuse-deterrent
12 properties.

13 Second, there's been a concern that ADFs may
14 send a false sense of security to prescribers. The
15 approval of abuse-deterrent formulations has not
16 led to an increase in opioid prescribing.
17 Furthermore, Mallinckrodt has stated that they will
18 not promote the ADF replacement.

19 Next, recent advisory committees have been
20 concerned that ADFs cannot deter initiation into
21 the dangerous non-oral routes of abuse. This
22 product addresses that concern since it contains

1 aversive agents to actively discouraged intranasal
2 abuse.

3 Another public health concern has been that
4 ADFs should not push individuals from snorting a
5 product to injecting it. While no product is abuse
6 proof, it's reassuring that an extensive,
7 multi-step, time-consuming process was required,
8 supporting that the product is abuse deterrent.

9 Finally, we've all shared concerns about the
10 potential risk of serious health consequences
11 resulting from injection of excipients. The
12 nonclinical studies showed no evidence of serious
13 risks with repeated injection of the ADF. This
14 does not mean that there is no risk, however, we
15 have to remember that the most dangerous ingredient
16 to inject from an oxycodone tablet, whether it's an
17 ADF or non-ADF, is the oxycodone itself due to the
18 risk of overdose and death. This underscores why
19 detering IV abuse is a public health priority and
20 why the FDA continues to support the advancement of
21 ADF technologies.

22 You've been tasked today with the question

1 should the ADF replacement be approved. I think we
2 have to acknowledge that abuse-deterrent
3 formulations are not the silver bullet that are
4 going to solve our nation's opioid crisis. Making
5 opioid medications more difficult to abuse is just
6 one part of a more comprehensive plan to address
7 our epidemic.

8 We need to use all of the strategies seen to
9 their fullest extent to meaningfully address this
10 unprecedented public health challenge. But to the
11 issue before us today, the FDA has advocated for
12 transitioning the opioid market to abuse-deterrent
13 formulations because of the public health benefit
14 that can be expected from providing meaningful
15 safeguards against abuse. Unlike prior ADFs that
16 have come before this committee, approval would not
17 mean adding another opioid product to the market.
18 Rather, approval would allow for an important
19 transition.

20 Mallinckrodt's currently marketed
21 immediate-release, single-entity oxycodone products
22 without safeguards against abuse would no longer be

1 available, leading to a transition where millions
2 of prescriptions would be replaced by a medication
3 that is therapeutically equivalent to current
4 products, but with meaningful safeguards against
5 intranasal and intravenous abuse. This transition
6 is in the interest of patients and of the public
7 health.

8 Thank you for allowing me to share my
9 perspective. I'll now turn the lectern back to the
10 sponsor.

11 DR. SCHLICHER: Thank you, Dr. Gudin.

12 I'd be happy to take any questions.

13 **Clarifying Questions**

14 DR. BATEMAN: Are there any clarifying
15 questions for SpecGx? Please remember to state
16 your name for the record before you speak. If you
17 can, please direct your questions to a specific
18 presenter. Dr. Higgins?

19 DR. HIGGINS: Jennifer Higgins. I'm going
20 to direct this question to Dr. Cone, but
21 simultaneously Dr. Hertz because I'm not sure that
22 I can ask this question.

1 What was the rationale used for choosing the
2 manipulation techniques? Is that something that we
3 could ask Dr. Hertz or is that too much more about
4 methodology?

5 DR. HERTZ: This is Sharon Hertz. I can
6 give you a general approach. What we basically ask
7 companies to do is manipulate the product to
8 defeat. We asked them to use a variety of
9 solvents, different lipophilic, non-lipophilic;
10 high pH, low pH; commonly available, not so
11 commonly available; with heat, without heat;
12 pretreat with heat, pretreat with -- whatever we
13 think might be suitable to defeat, in this case,
14 excipients intended to make the product difficult
15 to manipulate.

16 DR. HIGGINS: Is it consistent with what's
17 commonly done by abusers through bud chats or
18 web-based --

19 DR. HERTZ: I can't swear that it's current
20 to the absolute latest trend that might be
21 surfacing, but overall, yes, it is. We have a
22 controlled substances staff and a chemistry staff

1 that are very experienced in looking at this
2 material at the methods. We interact with the
3 companies during development. And if we don't
4 think that the evaluation has been sufficiently
5 robust, we request additional studies. And we've
6 had circumstances with products that you all have
7 never seen where we didn't even get to an advisory
8 committee because we didn't think the methods were
9 sufficient to even consider approval, so we didn't
10 come here.

11 I know it's a little unsettling this time
12 around because we haven't gone into the in-depth
13 methodologies in the closed session, but if we
14 think that there's a deficit along development or
15 once an application has been submitted, we will ask
16 for additional studies.

17 DR. HIGGINS: Thank you. And I have another
18 question, actually two other questions for
19 Dr. Comer, if I may ask.

20 With respect to the PK analysis, in the
21 background materials, I found that there was a
22 period of 8 hours to Tmax in one subject. And I

1 wondered if you might have an explanation for why
2 that should have been.

3 DR. COMER: Yes. I think you're referring
4 to the bioequivalence study.

5 DR. HIGGINS: Yes.

6 DR. COMER: Yes. I think I can help you
7 with that. This was covered in the FDA briefing
8 book as well as our briefing book, and I'd like to
9 put up the slide of the three subjects.

10 So you're right. There was for 3
11 individuals, within the bioequivalent study and the
12 fed study, they had a little bit longer time to the
13 maximum plasma concentration. What we were
14 reassured by is the fact, as you can see in this
15 slide, in all 3 individuals, while their Tmaxes
16 were at 4 hours -- I'm sorry, at 6 hours or at
17 8 hours, we actually saw that they achieved a
18 maximum plasma concentration very close to their
19 ultimate maximum plasma concentration within that
20 dosing interval, really only differing by about a
21 nanogram per mL.

22 DR. HIGGINS: Okay. My second question for

1 you, Dr. Comer, was with respect to the drug-liking
2 study. Why was such a broad response scale used?
3 1 to 100 seems unnecessarily broad. Is it standard
4 practice? Is there some standard method for using
5 that scale?

6 DR. SCHLICHER: Yes. I think it's probably
7 best to ask Dr. Webster to answer this since this
8 study was conducted in his laboratory.

9 DR. WEBSTER: Lynn Webster, vice president
10 of scientific affairs at PRA Health Sciences. Yes,
11 this is a standard approach. This is something
12 that we do for all human abuse liability with that
13 typical scale. So this is a scale that
14 standardized now for these studies.

15 DR. HIGGINS: Thank you. And one last
16 question. It seemed to me that the N of 38 for
17 study MNK48121013, with respect to the completer
18 population of recreational opioid users, seems
19 small. Is that also a standard number?

20 DR. SCHLICHER: I'll ask Dr. Webster to
21 comment.

22 DR. WEBSTER: Lynn Webster. Yes, it's

1 evolved over time. When I first started doing
2 these, we were looking at the lower 20's, but over
3 the years, we've increased the number, and it
4 appears to be a sufficient number in the mid 30's
5 to low 40's for most of these studies. Yes.

6 DR. HIGGINS: Thank you. That's all.

7 DR. SCHLICHER: We actually designed this
8 study for 34 completers, so we're pleased to see
9 38.

10 DR. BATEMAN: Dr. Zeltzer?

11 DR. ZELTZER: Thank you. I'll assault you
12 again. This is a question for Dr. Comer. I must
13 be missing something, but can people, if they break
14 something into particles, while the particles may
15 not be small enough for intranasal use, what about
16 sublingual? Can people hold particles longer under
17 their tongue to get sufficient absorption?

18 DR. SCHLICHER: Yes. So I think that's
19 probably a question for me. This is an
20 immediate-release drug products, so it needs to be
21 immediately available upon being swallowed or
22 taken. We don't expect any different release in

1 the drug product sublingually versus taken orally.
2 We, again, want it to be immediately available.

3 DR. ZELTZER: Maybe I'm not being clear. If
4 we talk about transmucosal -- intranasal is
5 transmucosal absorption, so you get the first pass
6 issue. You can get the first pass issue
7 transmucosally and sublingually. So can people
8 hold particles, that would otherwise be annoying,
9 intranasally for a longer period of time to get
10 more time for absorption sublingually?

11 DR. SCHLICHER: Great.

12 Dr. Gudin, can I ask you to comment?

13 DR. GUDIN: Jeff Gudin, pain management
14 addiction medicine, palliative care. It's a great
15 question, and especially in the palliative care
16 world, we've tried with sublingual and other
17 transmucosal routes of delivery. And what we've
18 seen is that there's wide variability amongst the
19 opioids and tends to have a lot to do with their
20 lipid solubility profile, how lipophilic they are.

21 So hence, we see in even commercially
22 marketed fentanyl products, which are

1 transmucosally delivered, tend to work very well
2 and very rapidly; whereas the more hydrophilic
3 drugs like morphine and oxycodone don't have
4 enhanced absorption sublingually. So as
5 Dr. Schlicher mentioned, simply taking it by mouth,
6 it's so bioavailable and seems to give us a very
7 comparable level as keeping it sublingual.

8 DR. SCHLICHER: Yes. I should probably also
9 further articulate, while we're able through
10 particle size reduction to dramatically reduce the
11 tablet to fine particles, they still are formulated
12 to a degree. So while we can get over 50 percent
13 to be less than 250 microns, the size of the API is
14 actually about 50 microns. So the product is still
15 actually formulated when it's been insufflated.
16 That's why you saw Dr. Comer's time delay to the
17 high. So the product is still actually formulated,
18 just not no longer in the tablet form.

19 DR. BATEMAN: Dr. Goudra?

20 DR. GOUDRA: Goudra. Penn Medicine. This
21 question I guess is for Dr. Dart with reference to
22 slide 13, 013. My question is, I was looking at

1 more recent literature in connection with pathways
2 of opioid abuse, and there is literature out there
3 which suggests, I don't know, what percentage, but
4 some of these people who end up using it or abusing
5 it would first find their opiates at home maybe
6 prescribed for somebody else. And second, many of
7 them start with a street drug.

8 My question is how significant -- rather
9 what percentage this pathway contributes to overall
10 opioid abuse, which we see now?

11 DR. SCHLICHER: Dr. Dart?

12 DR. DART: Rick Dart. That's a very good
13 question, and unfortunately, we don't have an
14 answer to it. It's something that I'm interested
15 in and have looked at the literature repeatedly.
16 The question I think you're asking is what relative
17 proportions of people go through each pathway, and
18 we really don't know the answer to that.

19 It gets complicated because some papers have
20 looked at this but fail to take into account the
21 patient's previous drug history. So they'll say,
22 well, they started as a pain patient, but when you

1 actually drill down on that, they had previous
2 experiences of abuse as well. So I think the
3 honest answer is we don't know, but I would say
4 that both are substantial. It's not 90 percent,
5 one or the other, probably.

6 DR. SCHLICHER: And certainly what we're
7 trying to do here is take a product that has no
8 abuse-deterrent features, replace it with a product
9 that we have demonstrated has significant
10 abuse-deterrent features to prevent that
11 progression under any route.

12 DR. GOUDRA: And the second question is, I
13 guess you guys stated that you're going to replace
14 the existing IR product with this one. Will the
15 price be the same or it's going to be different?

16 DR. SCHLICHER: I think it's probably not
17 appropriate to discuss pricing in this forum, but
18 we certainly learned in the development of this
19 drug product, when we were talking to individuals
20 in the marketplace, to understand what could make
21 this different, how could we get broader adoption
22 and acceptance of ADF formulations that were going

1 to be critical to not only be bioequivalent but to
2 be priced competitively with generic products. It
3 is a generic marketplace; 99 percent of the drugs
4 are generic. We need to make sure that that drug
5 can be priced competitively.

6 DR. GOUDRA: Okay. And the last question is,
7 I do see in the literature that immediate-release
8 formulations are far more susceptible for abuse
9 than extended release. Is there any reason for
10 that?

11 DR. SCHLICHER: I'll ask Dr. Dart to come
12 up. We'd agree with that concern. We think that's
13 why it was so important and why we focused on the
14 development of abuse deterrence for an IR
15 formulation.

16 DR. DART: Yes. It's been a frustration of
17 my whole career that since the beginning, the
18 immediate-release opioids were actually much more
19 abused than the extended release. But you know how
20 the press is, and they get all the press time. So
21 extended release became very well known for this
22 even though the data never indicated that was the

1 case.

2 So there are multiple factors, but the main
3 one is probably availability in that abusers, they
4 always have a preferred drug, but they actually
5 have to abuse what's available. They can't abuse a
6 drug that they can't get their hands on. So the
7 immediate-release products have always been more
8 available. And when you ask the abusers
9 themselves, it's always availability and ease of
10 crushing and solubilizing, depending on which route
11 you're going to use, snorting or IV.

12 Did that answer your question?

13 DR. GOUDRA: Are the extended-release
14 tablets more prescribed than IR?

15 DR. DART: Oh, no. I don't know the exact
16 number, and I don't know if we have a slide on
17 that, but the IR's are the vast majority of the
18 market.

19 DR. HERTZ: Excuse me. This is Sharon
20 Hertz. We actually are going to present some of
21 these numbers.

22 DR. DART: Oh, that's right.

1 DR. GOUDRA: Thank you.

2 DR. SCHLICHER: Dr. Gudin?

3 DR. GUDIN: Thank you. Jeff Gudin. A very
4 important question. I can tell you as an active
5 suboxone prescriber, seeing patients with
6 substance-use and opioid-use disorders, that in the
7 last few years, clearly the number one drug that
8 patients come in with a problem is Roxicodone,
9 immediate-release oxycodone.

10 It's been almost a decade that we would see
11 patients come in with the extended release issues I
12 think since those formulations have changed. So
13 I'd have to say the number one commonly misused
14 drug and the number one drug asked for by name on
15 the street by the abusers is Roxy. They want the
16 higher strength oxycodone IR products.

17 DR. SCHLICHER: And our understanding
18 broadly is that would be about 20 million
19 prescriptions on an annual basis, whole market,
20 obviously, not ours.

21 DR. BATEMAN: Dr. Arfken?

22 DR. ARFKEN: Cynthia Arfken. I have a

1 question about the actual mechanics of replacing
2 the generic. I monitor our prescription drug
3 monitoring program, so I was wondering how it would
4 appear. Would the physician just write a generic
5 and the pharmacy would do it, or would they have to
6 indicate a generic ADF, and then that would appear
7 on the monitoring program?

8 DR. SCHLICHER: Both of those we believe
9 will be options, as well as the option to also
10 write for Roxicodone. So it would be Roxicodone.
11 It would be directly substitutable, the generic
12 equivalence and bioequivalence. And yes, it would
13 have these desired additional abuse-deterrent
14 features.

15 We are actually currently conducting, as I
16 indicated previously, focus groups with physicians
17 to make sure we are understanding what the
18 educational needs are, what the educational gaps
19 are, and how to make certain we can do this in a
20 seamless and effective transition. We understand
21 that's going to take some time, and we're
22 especially sensitive to it. Clearly, we can't have

1 very specific conversations along those lines
2 without approval and without labeling, so much of
3 that lies ahead.

4 DR. BATEMAN: Mr. O'Brien?

5 MR. O'BRIEN: Thank you. My question is for
6 Dr. Dart and perhaps for Dr. Gudín, too.

7 Dr. Dart, on slide 13 again, I too had the
8 questions but perhaps a little differently. I know
9 there's a question or I think I've seen in the past
10 the percentage of susceptible individuals. So the
11 total, I believe, was estimated somewhere around 20
12 percent of that population. And then I think you
13 just said you don't know what the breakout in terms
14 of the pain patient or the recreational user is to
15 that susceptible individual.

16 Is that what I just heard?

17 DR. SCHLICHER: Dr. Dart?

18 DR. DART: I think so, but could you restate
19 that? Because I'm not sure I totally followed.

20 MR. O'BRIEN: My question is in terms of
21 percentages, what we're talking about here to put
22 it in context for my own self. What percent of

1 that susceptible individual comes from either the
2 pain patient or the recreational user?

3 DR. DART: I may have missed literature, but
4 I looked for this, and I really don't think we know
5 that. I don't know how else to answer, but it's
6 just that it gets very -- the concern I have is
7 that when people try to predict this, they usually
8 have not looked at the patient's previous history.

9 For example, let's say you are a pain
10 patient who later recreationally abuses, and the
11 investigator looks at the recreational phase of
12 your career, if you will. If they don't tell me
13 about that previous, then they've misrepresented
14 what happened. I'm hoping to do this study myself,
15 actually, but we're not there yet.

16 MR. O'BRIEN: And in terms of -- oh, I'm
17 sorry. Go ahead.

18 DR. SCHLICHER: That's all right. What's
19 especially important to us is to stop that
20 progression at all. We want to make sure that the
21 drug products we are producing are only going for
22 their indicated route, and we can prevent that

1 progression for that individual or for any
2 diversion, as Dr. Gudin is suggesting from the
3 medicine cabinet.

4 MR. O'BRIEN: I understand that. I had
5 another question for Dr. Dart, and again perhaps
6 for Dr. Gudin. On that same slide, in terms of
7 behavior, I tried to wrap myself around it coming
8 mostly from representing pain patients, community,
9 it seems to be anecdotally, I would say, that the
10 behavior that first gets someone into
11 trouble -- not the intended, but the
12 unintended -- is alcohol. That's the first
13 behavior, excessive alcohol. And in terms of
14 anecdotally, whenever you see someone that is
15 unintentionally death, there's always an empty bottle
16 of alcohol that's in the car or in the thing.

17 So that seems to be the first real behavior,
18 but yet I don't see that indicated as being a
19 behavior. Is that not a behavior of those that
20 abuse the drug, intended or otherwise?

21 DR. SCHLICHER: Dr. Dart?

22 DR. DART: You're absolutely right. There's

1 actually people around the table who could answer
2 this better than me, but usually people start with
3 alcohol and nicotine. It's not universal, but
4 those are the most common predictors. And then
5 there's a whole host of other factors such as
6 abuse, physical abuse, trauma, isolation.

7 You can name a whole list of factors that
8 the pain patients who end up with a problem have
9 strong influences on that, including genetics.
10 NIDA would say 60 percent of it is genetics. So
11 there's probably 20, 30, maybe more factors that
12 aren't shown on the slide, and alcohol is a big
13 one. You're correct.

14 MR. O'BRIEN: More specifically I guess to
15 Dr. Schlicher or Ms. Schlicher, I'm sorry, would
16 there be any difference whether I took Roxicodone
17 or ADF and drank a bottle of vodka?

18 DR. SCHLICHER: Yes. We certainly haven't
19 done the study, but what we do know is that -- I'm
20 sorry. Are you asking about their willingness to
21 try to overcome the abuse-deterrent features or are
22 you asking if alcohol has an effect on the drug?

1 MR. O'BRIEN: Overcome the abuse.

2 DR. SCHLICHER: That's what I thought. Okay.
3 We do know what we've created is a progression of
4 frustrations. Even just with the particle size
5 reduction, the effort that they have to go to in
6 order to be able to do that really needs, to some
7 extent, to be predetermined. It isn't something
8 that they can just do any place they are. They
9 would have had a thought to have the right tool in
10 place and to go through some elaborate actions in
11 order to make it work.

12 Similarly, as Dr. Cone described, the
13 process that they need to go through in order to be
14 able to break that down into an abusable form takes
15 over an hour and involves a number of steps. And
16 in fact, if those steps are done a little
17 differently or not in quite the right way, you saw
18 that the yield differences are dramatic. Even
19 though we saw a maximum yield of 60 percent, the
20 median for that condition was actually 10.

21 So I'm not suggesting they couldn't get any
22 out, but if it's difficult to do when you're not

1 impaired, I would imagine that would go up by a
2 significant factor if you are.

3 I'm going to extend my answer here for a
4 minute because I think it's relevant, and we didn't
5 provide it in the materials. So you could say to
6 me, then, well, that's through small-volume
7 extraction and an extensive procedure. What if I
8 just drop that tablet in 30 mLs of water? What if
9 I use large-volume extraction instead of
10 small-volume extraction?

11 I want to make sure that distinction is
12 really clear to the group here today because I
13 think it was covered in the RoxyBond IR review a
14 year ago, but that's been a year. So let me make
15 sure I cover why large-volume extraction isn't
16 relevant to an IR drug.

17 First of all, our drug has to be immediately
18 available. By the guidance, we must be able to get
19 the dissolution of 85 percent of that product
20 within 15 minutes in water. That's a requirement
21 for approval. So yes, you can absolutely achieve
22 that, but I've now taken the highest dose, the

1 30-milligram tablet. I've dissolved in 30 mLs of
2 water. I could drink it. That's not going to have
3 any benefit over taking the tablets, certainly; or
4 I could look to inject it, which was the concern
5 with Opana.

6 But here again, I have an immediate-release
7 drug that's an IR drug that's already readily vial
8 available, 85 percent. So the satisfaction of
9 injecting a milligram per mL, I'd have to inject 30
10 mLs in order to get to that same benefit, isn't
11 likely to happen. But the real distinction with
12 Opana is, again, that was an ER product, and it
13 wasn't bioavailable, only about 10 percent
14 bioavailable. So if I put that tablet in water, it
15 provided a lot of bioactive material that
16 individuals could share by IV; so absolutely no
17 comparison to an IR drug that's readily
18 bioavailable.

19 Sorry. I just wanted to make sure I
20 explained why we didn't cover that.

21 MR. O'BRIEN: I just have one last question.

22 DR. BATEMAN: I'd like to move on to other

1 committee members.

2 MR. O'BRIEN: Sure.

3 DR. BATEMAN: Will come back to you if we
4 have time later.

5 Dr. McCann?

6 DR. McCANN: Thank you. I have a couple of
7 questions for Dr. Orr. I have questions about the
8 validity of the toxicity studies in rabbits, and I
9 think they're probably -- I guess I just don't
10 understand the study.

11 On slide 40, it said dose volume selected
12 based on tolerability profile of oxycodone. I'm
13 presuming that is tolerability for the rabbits.

14 DR. SCHLICHER: Dr. Orr?

15 DR. ORR: Yes, that's correct. That's the
16 tolerability of the rabbits. We actually had an
17 antagonist on hand in case the rabbits went down,
18 so pushed the dose to the dose that they can
19 tolerate for the oxycodone.

20 DR. McCANN: Do they have similar
21 tolerabilities to humans in terms of milligrams?

22 DR. ORR: Pretty similar. Then it says

1 58-fold higher dose for rabbits relative to humans
2 based on mLs per kilo. So you gave the rabbits
3 1 mL per kilo. Right? So then you would say if
4 you extrapolated that to humans, you would be
5 giving them 70 mLs for a dose? And then if you
6 back-figure that --

7 DR. ORR: Yes. The way we looked at this,
8 it was 1 mL per kilogram for the rabbit dose, and
9 for the human dose, it was 1 mL per 60 kilogram
10 human. So it would be on a mL per kilogram basis,
11 approximately a 59-fold greater dose than what a
12 human would receive of the extract. Again, these
13 are the test articles that were -- the most that
14 there would be syringe or kind of a real-life test
15 of what could somebody get out of the pill, and
16 then use that extract to treat the animals.

17 DR. McCANN: Okay. So the presumption is
18 that a human would not inject more than a mL at a
19 time?

20 DR. ORR: That's what's been told to me is a
21 reasonable dose for human.

22 DR. McCANN: Then to go to slide 42, test

1 article 2, statistically significant increases in
2 fibrinogen and increases in spleen weight 50
3 percent. Does that mean that 50 percent of the
4 rabbits had an increase in spleen weight or on
5 average, the rabbits' spleens increased by 50
6 percent?

7 DR. ORR: It's the spleen weights
8 for -- it's an average of the N of 4. So the
9 spleen weights increased for test article 2 by 50
10 percent.

11 DR. McCANN: I think as a doctor of humans,
12 if I gave a drug, an antibiotic, and the spleen
13 increased by 50 percent, that would be of concern.
14 But you said the independent pathologists didn't
15 find it concerning. Is that because rabbits
16 increase their spleens willy-nilly compared to
17 humans?

18 DR. ORR: How about I put some clarity on
19 this?

20 DR. McCANN: Sure. Absolutely.

21 DR. ORR: In a general toxicology study, we
22 look at many different parameters. Test article 1,

1 many different parameters. We look at hematology,
2 coagulation, many different endpoints of clinical
3 chemistry, urinalysis. And then we actually take
4 the tissues from the animals and then do a
5 histopathological evaluation of those tissues to
6 determine whether there's any overt damage to the
7 tissue.

8 In this particular case, we did see, as
9 indicated, and increase in spleen weights. There
10 was a minimal and slight increase in congestion.
11 However, if you look at all the parameters, we
12 didn't see any evidence of overt toxicity. We
13 didn't see red blood cell lysis, increase in plasma
14 and hemoglobin. We didn't see the kidney damage,
15 all of which was seen within 24 hours using the
16 high molecular weight PEO.

17 So looking at all the information in context
18 of the study and having very minimal effects and no
19 obvious damage to the spleen, it was not considered
20 adverse.

21 DR. McCANN: Thank you.

22 DR. ORR: You're welcome.

1 DR. BATEMAN: Ms. Robotti?

2 MS. ROBOTTI: Hi. Suzanne Robotti. I was
3 going to start with a different question, but I
4 wanted to follow up on Dr. McCann, and I need one
5 more question after that. I too was interested in
6 the in vivo testing of rabbits, 3 days of testing,
7 once daily in 12 rabbits. The spleen weight seems
8 incredible based on both volume and time.

9 What would happen if you did a more
10 real-life test, like gave it to the rabbits once a
11 day for 3 months? Would that spleen continue to
12 grow? And how do you find a common side effect in
13 this unique combination of ingredients when you've
14 got 12 rabbits; when a common side effect is one
15 that happens in 1 in a hundred humans? It just
16 seems a ridiculously short period of time and an
17 intensely small sample group. How can that tell me
18 anything?

19 DR. SCHLICHER: So let me ask Dr. Orr to
20 come, but I'll make a few comments while he's
21 coming up to the podium. I think it's important to
22 note that we did test two test articles. And the

1 test article that you're referring to was actually
2 one that had significantly lower concentrations of
3 oxycodone than test article 1.

4 So while we thought it was important to test
5 two different thermal methods of heating, we don't
6 think the likelihood is high that this would be a
7 condition that users would actually use because the
8 levels of oxycodone extracted are well under the
9 50 percent that we achieved in test article 1. So
10 they might try at a time or two, but I think they
11 would find it very unsatisfying in that they'd have
12 to go through that multiple more than hour-long
13 procedure, and then they would find the extraction
14 amounts unrewarding and a significant reduction in
15 the oxycodone that they paid for.

16 I'll now turn it over to Dr. Orr to provide
17 some --

18 DR. ORR: Dr. Mike Orr, nonclinical
19 consulting. Yes. If I understand you correctly,
20 you're concerned about the number of animals in
21 each cohort. The identical number of animals per
22 cohort were used for the guinea pig study. They

1 were able to detect the overt toxicity, the TMA,
2 within 24 hours in that particular study. The N of
3 4 for non-rodent species is a standard number for
4 general toxicology studies attempting to identify
5 the hazards with any test article.

6 MS. ROBOTTI: So you have no idea what would
7 happen to the spleen over time, for example.

8 DR. ORR: At this point, we have 3 days of
9 dosing, and we did look at the spleen. The spleen
10 was taken out. They looked for any types of damage
11 or evidence of red blood cell lysis occurring, and
12 it was considered by the independent pathologists
13 to be normal.

14 MS. ROBOTTI: Second question. I assume
15 this would be to Dr. --

16 DR. SCHLICHER: Schlicher.

17 MS. ROBOTTI: -- Schlicher. Sorry. If the
18 company receives approval for the formulation
19 itself but does not receive ADF labeling, will you
20 go ahead and be replacing all the products in any
21 case? You did point out you would not be promoting
22 the change in label.

1 DR. SCHLICHER: Correct. As I mentioned, we
2 began this work in 2012, really in an effort to
3 understand what was it going to take to be
4 successful with an abuse-deterrent formulation, and
5 we really learned it absolutely has to be
6 bioequivalent. It actually absolutely has to
7 compete in a generic market place, and it
8 absolutely must have the IV and the IN labeling
9 dose, both for educational purposes as well
10 as -- as we talk to payers, and prescribers, and
11 pharmacies, they don't see that there is value in
12 providing that abuse-deterrent formulation unless
13 they can actually show the stewardship and show the
14 appropriateness of making that change and be able
15 to have it preferentially prescribed.

16 So the answer is no. We would not be going
17 forward without labeling.

18 MS. ROBOTTI: But if the original product is
19 not available or if it's presented in this slight
20 change in formulation to make it progressively more
21 frustrating to abuse, you don't need the labeling;
22 you've created a public good.

1 DR. SCHLICHER: We believe we very much are
2 creating a public good, but we don't sell product
3 to the marketplace. We must go through HMOs and
4 retail pharmacies. And with a lack of willingness
5 to put that product on formulary without
6 abuse-deterrent labeling and features, we don't
7 have a path forward to sell the product.

8 DR. BATEMAN: Dr. Zibbell?

9 DR. ZIBBELL: Thank you. John Zibbell, RTI
10 International and Emory University. I think this
11 is for Dr. Comer. I think I read this in the
12 briefing, but can you tell me the reason for not
13 conducting a human abuse potential study for the IV
14 routes of administration?

15 DR. COMER: Yes. That would not be typical
16 for the abuse-deterrent features that we are
17 providing. That typically is done -- and I believe
18 there have been previous abuse-deterrent committees
19 on that -- when it's an artificial formulation
20 created in order to be able to demonstrate abuse
21 deterrence. So for us, that really wouldn't be
22 relevant.

1 The other reason would be, once we've done
2 the small-volume extraction work, once we've done
3 the pretreatment, that is no longer the drug that
4 is intended for oral use, so we don't have the
5 specific details on the safety profile that we
6 would be providing to those individuals, and
7 clearly, that wouldn't be appropriate. It's all
8 the more reason that the FDA suggested, and working
9 with them, that we did the preclinical testing that
10 Dr. Orr has described.

11 DR. HERTZ: This is Sharon Hertz. I just
12 want to clarify this a step further. We probably
13 wouldn't have allowed it. Because the excipients
14 aren't approved for that route, it's not safe to
15 study. And we think we have enough information
16 from understanding the amount of oxycodone that can
17 be achieved, and put into a syringe, and injected.

18 When we have products with an antagonist,
19 what we do is have them simulate the amount of
20 opioid, the amount of antagonist that we think
21 would be available, just to make sure the
22 antagonist is having the adequate effect on the

1 agonist. But here where it's just a single entity,
2 we actually wouldn't allow it for safety reasons.
3 And that is, as I said, why we've, on the advice of
4 this committee, switched into pursuing more tox
5 data in nonclinical models.

6 DR. ZIBBELL: Thanks, Sharon.

7 Can I do one quick follow-up?

8 I was also wondering what led you to choose
9 aversion as your abuse-deterrent mechanism and just
10 some sub-questions there. Is there a literature on
11 the efficacy of physical-chemical aversion to deter
12 the use of opioids? And the second one might be
13 for FDA. Do other FDA-approved opioid medications
14 include a chemical-based aversion mechanism?

15 DR. SCHLICHER: Yes. Working to develop an
16 immediate-release, abuse-deterrent formulation is a
17 difficult path to go down because you're trying to
18 find a way to still make it immediately available
19 but also abuse deterrent. So the effectiveness of
20 the aversive agents is they actually work twofold.
21 They're providing this aversion and they're also
22 helping to facilitate the immediate release of the

1 drug to make sure that it can be bioavailable.

2 The thing that we're really encouraged by is
3 the strong results on overall drug liking and take
4 drug again. Nothing could be more reassuring to us
5 than to have somebody willing to take placebo than
6 willing to take our drug with aversive quantities
7 that would get them high. So we're pleased that
8 those aversive quantities are providing that lack
9 of desire to take the drug again and yet be
10 bioavailable for those who need it for pain.

11 DR. ZIBBELL: And those weren't physically
12 dependent persons, right? They were recreational
13 users without a physical dependency?

14 DR. SCHLICHER: That is correct.

15 DR. BATEMAN: Dr. Marshall?

16 DR. MARSHALL: Brandon Marshall, Brown
17 School of Public Health. I have a question for the
18 sponsor regarding the pharmacodynamic results. If
19 we assume that the positive effects are independent
20 from the negative effects, which seems to be the
21 framework we're working from, I understand the
22 mechanism of the negative effects is due to the

1 aversive agents that are added. But what is the
2 hypothesized chemical or mechanism of action that
3 delayed the drug liking and resulted in lower drug
4 liking over time?

5 DR. SCHLICHER: Yes. As I mentioned, while
6 we're able to get a particle size that's less than
7 500 microns; in fact, most of it under 250 micros,
8 that's still a particle size greater than the API
9 of 50 microns. So even though you have those fine
10 particles, they're still formulated, so you're
11 still experiencing those gelling properties on
12 insufflation, which is delaying the release of the
13 drug or delaying the time to high.

14 DR. BATEMAN: Dr. Green?

15 DR. GREEN: Hi. Traci Green. I have three
16 questions. The first two are with respect to
17 Dr. Comer's study. On slide 57, I was curious
18 about the ADF replacement causing aversive nasal
19 effects, the time points where statistical
20 significance continued or did not during those time
21 points.

22 DR. SCHLICHER: Yes. Really what we're

1 seeing here is that we immediately experience the
2 adverse effects. In fact, if we actually show our
3 adverse event details, we had a quarter of the
4 individuals actually come forward spontaneously to
5 report that nasal abuse and irritation right upon
6 insufflation of the drug product. And then you see
7 that these questions -- I'll ask Dr. Webster
8 because he conducted the study and can speak to the
9 questions asked over time were asked in a routine
10 fashion over the course of the study.

11 DR. WEBSTER: So you're asking did we ask
12 the same questions for longer than 2 hours? Lynn
13 Webster.

14 DR. GREEN: The statistical significance at
15 1, 1 and a half, and 2 hours, did they overlap at
16 that point? At what point -- clearly, the first
17 half hour was significant and had a number of
18 these --

19 DR. WEBSTER: I don't think that we have a
20 great deal of significance after the 1 hour. Most
21 of the impact, the aversive effect, occurs in the
22 first quarter year and at the half hour. But we do

1 assess later. It's just not relevant.

2 DR. GREEN: Okay. Great. And the second
3 question I have is --

4 DR. SCHLICHER: Sorry. So we were
5 statistically significant at 1 hour, as Dr. Webster
6 indicated, but post that time, we're absolutely not
7 suggesting that that aversion is retained. We
8 actually kind of like that. We don't want to be
9 causing any kind of permanent nasal effect, but we
10 really like the effect that even though it is gone
11 after 2 hours, 12 and 24 hours later, when they're
12 no longer high, they're saying, "No thank you. I
13 don't want to take that thing again."

14 DR. GREEN: Great. And the related
15 question, what's it taste like when it's
16 insufflated, and how is that different from the
17 current Roxicodone IN?

18 DR. SCHLICHER: What does it take like?

19 DR. GREEN: The nasal drip, that is, that
20 people --

21 DR. SCHLICHER: Dr. Webster, would you
22 have --

1 DR. WEBSTER: Lynn Webster. We didn't ask
2 them, but some of them would spontaneously comment
3 it was bitter. But that wasn't a survey question,
4 and it would only be something that they would
5 spontaneously report.

6 DR. SCHLICHER: Which they didn't, so we
7 don't know.

8 DR. GREEN: Okay. And the last question I
9 have is with respect to the emergent adverse
10 offense. This is I guess part of table on
11 MNK48121013. For how long did the respiratory and
12 other effects continue in the patients as they were
13 being monitored? And I ask this specifically in
14 terms of the respiratory effects because of the
15 contribution that many people who misuse,
16 especially oxycodone but other opioids, use in the
17 presence of a benzodiazepine, which of course
18 contributes to the respiratory depression that
19 brings on overdose.

20 So I'm wondering about these individuals who
21 didn't otherwise have respiratory effects and
22 irritation with the existing product, but now are

1 having it potentially in this experience and how we
2 can maybe think about it down the line in actual
3 use.

4 DR. SCHLICHER: Yes. My understanding it
5 was one time. They reported it one time. It was
6 transient, and they didn't continue to report that
7 experience.

8 DR. GREEN: So the 21 patients who
9 experienced some form of respiratory effects had it
10 a very short period of time? Is that all 21
11 experienced it over --

12 DR. SCHLICHER: That is my understanding.

13 Dr. Webster, would you have anything to add
14 there?

15 DR. WEBSTER: No. That was not a focus, I
16 think, of my review, so I don't recall. If we can
17 get back to you after the break, we'll take a look
18 at that data.

19 DR. GREEN: Okay. Thank you.

20 DR. BATEMAN: Dr. Meisel, we have time for
21 one short question.

22 DR. MEISEL: Steve Meisel. This I think will

1 be short. The agency I think is stipulating
2 bioequivalency between this product and the
3 original product. Could you put up a slide that
4 shows the data on that? I don't think that's been
5 presented here today.

6 DR. SCHLICHER: Yes. We're happy to pull up
7 that slide. Let's pull up -- I think the forest
8 plot are you asking for?

9 Yes. Here is the slide showing the
10 bioequivalence in both the fed and fasted state.
11 We agree with the agency that we meet the
12 prespecified boundaries for being bioequivalent in
13 that 80 to 125 percent range.

14 DR. MEISEL: But as I look at this, I
15 remember this reading the briefing document,
16 although it meets the agency's criteria, the
17 confidence limits here -- clearly, the AUC is lower
18 than the original, and the Cmax is lower than the
19 original. I think Tmax is also slower.

20 Would that be accurate to say that they're
21 statistically lower and slower -- although they
22 meet the agency's criteria for bioequivalency, that

1 the actual AUC and Cmax are lower and the Tmax is
2 slower?

3 DR. SCHLICHER: Yes. They're both over that
4 80 percent as you indicate. We actually see the
5 same kind of variability here that we have
6 traditionally seen with Roxicodone itself, and we
7 discussed a little bit earlier in the fed state why
8 we had that delayed Tmax for those 3 individuals.

9 DR. MEISEL: Okay. Thank you.

10 DR. BATEMAN: We'll now take a 15-minute
11 break. Panel members, please remember that there
12 should be no discussion of the meeting topic during
13 the break amongst yourselves or with any members of
14 the audience. We'll resume at 10:15.

15 (Whereupon, at 10:00 a.m., a recess was
16 taken.)

17 DR. BATEMAN: We'll now proceed with FDA
18 presentations.

19 **FDA Presentation - Jennifer Nadel**

20 DR. NADEL: Good morning. My name is
21 Jennifer Nadel, and I'm a medical officer in the
22 Division of Anesthesia, Analgesia, and Addiction

1 Products. I'm going to provide you with a
2 high-level review of the MNK-812 new drug
3 application, NDA, and an overview of the agency's
4 presentations.

5 The order of FDA presentations, as shown in
6 the agenda, will be an introduction and overview of
7 the application, which I will present.

8 Dr. Amspacher will discuss the in vitro data.

9 Dr. Mellon will discuss the nonclinical safety
10 assessment. Dr. Tolliver will discuss the
11 intranasal abuse potential of MNK-812. Dr. Meyer
12 will discuss data on use, misuse, and abuse of
13 oxycodone. Lastly, I will present a clinical
14 summary of abuse deterrence and provide concluding
15 remarks.

16 MNK-812 is an immediate-release oxycodone
17 with reported abuse deterrence via the intranasal
18 and intravenous routes. The abuse deterrence does
19 not address the oral route, which is the most
20 common route for abuse. This product is indicated
21 for pain severe enough to require an opioid
22 analgesic and for which alternative treatments are

1 inadequate.

2 The planned doses are 5, 10, 15, 20, and 30
3 milligrams. The efficacy and safety of this
4 product was established by the applicant showing
5 bioequivalence to Roxicodone in two PK studies,
6 therefore, no further safety or efficacy studies
7 were conducted or required.

8 The clinical development program for MNK-812
9 consisted of 2 pharmacokinetic studies to
10 demonstrate bioequivalence to Roxicodone and one
11 human abuse potential study, which evaluated the
12 effect of the abuse-deterrent properties on the
13 potential for intranasal abuse. Overall, the types
14 of adverse events reported in the 2 pharmacokinetic
15 studies were consistent with exposure to oral
16 opioids.

17 The human abuse potential study also
18 demonstrated adverse events that were typical of an
19 oral opioid as well as findings that may be
20 attributed to the abuse-deterrent properties of the
21 product. This table depicts adverse events by
22 system organ class, or SOC, a preferred term in the

1 HAP study. As shown in the table, adverse events
2 showed a higher frequency occurring in the intact
3 oral MNK-812 and the intranasal MNIK-812 groups as
4 compared to the intranasal oxycodone and placebo
5 groups.

6 These events were most commonly reported in
7 the respiratory, thoracic, and mediastinal
8 disorders, and gastrointestinal disorder SOC's.
9 Within these SOC's, the most frequently reported
10 preferred term for MNK-812 were cough, nasal
11 discomfort, nasal congestion, nausea, vomiting,
12 constipation, and retching. In general, most
13 adverse events or AEs were mild in severity. Two
14 AEs of moderate severity were reported for cough
15 and nasal burning sensation.

16 Now, Dr. Amspacher will discuss the in vitro
17 findings.

18 **FDA Presentation - Valerie Amspacher**

19 DR. AMSPACHER: Hello. My name is Valerie
20 Amspacher. I'm a chemistry manufacturing and
21 controls reviewer in the Office of Pharmaceutical
22 Quality. Today I'm going to present on the

1 in vitro Category 1, abuse-deterrent studies of
2 MNK-812.

3 MNK-812 is an immediate-release oxycodone
4 tablet with intranasal and intravenous
5 abuse-deterrent features. It is available in 5,
6 10, 15, 20, and 30-milligram strengths. Category 1
7 studies were performed by the sponsor and by FDA's
8 internal labs according to the FDA guidance titled
9 Abuse Deterrent Opioids:
10 Evaluation and Labeling Guidance for Industry.

11 Category 1 studies are in vitro studies
12 performed to characterize the abuse-deterrent
13 properties of a dosage form. Today, I will be
14 discussing results of tests looking at physical
15 manipulation, small-volume extraction, and
16 large-volume extraction. Physical manipulation
17 testing includes both manual manipulation using
18 common household tools, as well as mechanical
19 manipulation in which tablets are crushed or ground
20 using electrically powered tools.

21 As I said, Category 1 tests include
22 assessing the amount of drug that can be extracted

1 from a tablet with small-volume extraction and
2 large-volume extraction. For this NDA, the sponsor
3 chose 30 milliliters for the large volume
4 extraction. The solvents used in the testing
5 presented today are aqueous based and are
6 frequently used for abuse.

7 When discussing the Category 1 studies, I
8 will use the term "pretreatment." Pretreatment is
9 the conditioning of a tablet at elevated
10 temperatures in order to defeat abuse-deterrent
11 properties. These Category 1 tests looked at 30-
12 milligram MNK-812 tablets and 30-milligram
13 Roxicodone tablets. Some 15-milligram tablets of
14 both dosage forms were tested, but the results
15 discussed today will be focused on 30-milligram
16 tablets.

17 FDA labs repeated a select fraction of the
18 studies performed by SpecGx. Our lab results are
19 in general agreement with those of SpecGx, but this
20 is not to say that our interpretation of those
21 results is in agreement with SpecGx

22 The first Category 1 test presented today

1 will be physical manipulation. Generally, we
2 consider particle sizes smaller than 500 microns to
3 be insufflatable, which raises concerns of possible
4 nasal abuse. In physical manipulation testing of
5 MNK-812 with manual tools, the tablets tested were
6 not pretreated, as I just discussed on an earlier
7 slide.

8 A maximum of about 10 percent of particles
9 smaller than 500 microns were obtained from MNK-
10 812, 15 and 30-milligram tablets when physically
11 manipulated with manual tools. A maximum of about
12 94 percent of particles smaller than 500 microns
13 were obtained from Roxicodone 15 and 30-milligram
14 tablets when physically manipulated with manual
15 tools.

16 Please note that the FDA background document
17 included the incorrect percentage of particles
18 smaller than 500 microns for Roxicodone. The
19 correct amount is about 94 percent as stated on
20 this slide. As the sponsor stated, manual physical
21 manipulation techniques were generally not
22 successful with MNK-812. However, physical

1 manipulation with mechanical tools was successful.

2 With no pretreatment and with mechanical
3 tools readily available from places such as Walmart
4 and Amazon, about 90 percent of particles were
5 found to be smaller than 500 microns when MNK-812
6 15 and 30-milligram tablets were physically
7 manipulated with mechanical tools. Because more
8 than 90 percent of particles smaller than 500
9 microns were obtained from Roxicodone using manual
10 techniques, Roxicodone tablets were not tested with
11 mechanical techniques.

12 To get the 90 percent of particles smaller
13 than 500 microns for MNK-812 takes less than
14 5 minutes of physical manipulation with mechanical
15 tools. In summary, the data shows MNK-812 is more
16 difficult to physically alter than Roxicodone with
17 manual tools but is readily manipulated with
18 mechanical tools.

19 The second Category 1 test presented today
20 will be small-volume extraction. This test is
21 significant because it conveys information about
22 the ease of abusing this drug via the intravenous

1 route as was seen with reformulated Opana ER. This
2 is investigated by looking at tablets that are both
3 pretreated and not pretreated.

4 These tablets were extracted at room and
5 elevated temperatures with and without agitation
6 for various time periods measured in minutes, not
7 hours. The solvents used for extraction testing
8 are frequently used by individuals who abuse. They
9 are either ingestible, or injectable, or both.
10 Syringeability was tested with three different
11 needle sizes, also known as gauges.

12 We agree with the sponsor that for both
13 pretreated and non-pretreated tablets, the
14 syringeability was hindered by the gel-like
15 consistency of the extract with small volumes of
16 liquid. We also agree with the sponsor that of the
17 more than 1800 variations tested, many yielded
18 oxycodone recoveries of 10 to 15 percent or less.
19 However, please focus on the table on the right,
20 which lists the percent syringeable oxycodone
21 recovered from 30-milligram tablets in
22 5 milliliters of solvent frequently used for abuse.

1 The text on the left lists the conditions that were
2 varied during the testing.

3 This table shows that with pretreatment,
4 there are multiple mild conditions that yield
5 recoveries of greater than 50 percent oxycodone
6 using a solvent frequently used for abuse, which is
7 both ingestible and directly injectable. These
8 physical manipulations, pretreatments, and
9 extractions at elevated temperature will take about
10 1 hour for an individual who abuses to perform.
11 Upon further discussion of data and retesting by
12 the NDA sponsor, additional data on three
13 conditions was submitted to the FDA that showed
14 lower percent extraction of oxycodone. Note that
15 some extractions could be syringed with the
16 smallest needles tested.

17 With respect to MNK-812, up to 60 percent of
18 the oxycodone dose can be recovered with
19 pretreatment, physical manipulation, and elevated
20 extraction temperatures with a specific solvent
21 frequently used for abuse resulting in a
22 syringeable dose in about 1 hour. For comparison

1 with Roxicodone, generally 70 to 80 percent of a
2 dose could be recovered with manual manipulation
3 and extraction and syringed in about 10 to 15
4 minutes.

5 To further clarify the data presented by the
6 sponsor this morning, the 60 percent isolated from
7 MNK-812 was extracted in 5 milliliters while the 66
8 percent pointed out by the sponsor in RoxyBond was
9 extracted in 30 milliliters.

10 The final Category 1 test presented today
11 will be large-volume extraction. This is
12 investigated by looking only at non-pretreated
13 tablets. These tablets were either intact or
14 physically manipulated, extracted at room and
15 elevated temperatures with and without agitation
16 for up to 2 hours.

17 For the large-volume studies, the tablets
18 were extracted in 30 milliliters of the solvent; 14
19 solvents of varying pH, polarity, and ionic
20 strength were tested. Results showed the
21 abuse-deterrent features are defeated in 30
22 milliliters of the most frequently used solvent for

1 IV abuse in 2 hours with no pretreatment of
2 tablets. Even without pretreatment, recoveries
3 greater than 80 percent are regularly achieved in
4 solvents of low, neutral, and high pH, and
5 recoveries greater than 90 percent are frequently
6 seen, which may encourage multiple injections of
7 shared solutions of the type scene with
8 reformulated OPANA ER. Recoveries are achieved
9 with intact or ground tablets extracted at any
10 temperature.

11 From the provided data, our conclusions are
12 up to 60 percent of oxycodone can be extracted and
13 syringed from an MNK-812, 30-milligram pretreated
14 tablet with a solvent frequently used for abuse
15 under specific conditions. Greater than 80 percent
16 of oxycodone can be extracted from an MNK-812,
17 30-milligram tablet in 30 milliliters of solvents
18 frequently used for abuse from non-pretreated
19 tablets. Thank you.

20 **FDA Presentation - Daniel Mellon**

21 DR. MELLON: Good morning. My name is Dan
22 Mellon. I'm the pharmacology-toxicology supervisor

1 in the Division of Anesthesia, Analgesia, and
2 Addiction Products. My goal this morning is to
3 provide you with an overview of the nonclinical
4 safety assessment of the excipients in MNK-812.

5 To preview, it's important to first note
6 that the agency does not have any safety concerns
7 with respect to the excipients used in MNK-812 when
8 the product is used for the intended route, i.e.,
9 the oral route of administration. And in general,
10 the agency is in agreement with the applicant's
11 assessment of the toxicological studies conducted
12 to date to assess the risk of misuse of the product
13 via the intravenous route of administration.

14 The existing data, although limited,
15 suggests that intravenous injection of extracts of
16 MNK-812 did not result in clear evidence of
17 thrombotic microangiopathy unlike the published
18 nonclinical study that tested the excipients that
19 were present in the reformulated Opana ER product.
20 However, there are limitations to the existing
21 data, and the FDA cannot rule out the possibility
22 that adverse effects could occur with more frequent

1 or more prolonged administration of manipulated
2 MNK-812 for IV use.

3 In terms of the safety assessment of
4 excipients, the agency has a very consistent
5 guideline for how we actually address the safety of
6 products for the intended route and that's
7 described in a guidance that really almost
8 basically describes the same types of studies that
9 you would use for a new molecular entity.

10 We actually also have a guidance that
11 describes what one might do to justify the safety
12 of a product if one were to intentionally try to
13 reformulate a product from an oral route to an
14 intravenous route of administration, and these
15 studies typically would include some in vitro blood
16 compatibility studies, as well as intravenous
17 toxicology studies to try to understand both the
18 local and the systemic safety of a product, and
19 that's described in a guidance document as well.

20 In the past, as you know, the agency has not
21 required an assessment of an oral drug product
22 excipient for the safety of either the intravenous

1 route or any other unintended route. However, due
2 to unanticipated outcomes with an introduction of
3 an abuse-deterrent opioid formulation to the
4 market, specifically Opana ER, our approaches had
5 to shift.

6 As many of you realize, there were adverse
7 events that resulted from manipulation of the
8 reformulated Opana ER product that included
9 evidence of anemia, thrombocytopenia, thrombotic
10 microangiopathy, acute kidney injury, and even
11 retinal damage and cardiac involvement. The data
12 from that product also supported a shift from the
13 intranasal route of administration to more
14 dangerous intravenous routes of abuse that resulted
15 in an increase in outbreaks of HIV and hepatitis C
16 in drug users who were sharing manipulated,
17 reformulated Opana ER.

18 Because of that, the current approach to the
19 safety assessment of abuse-deterrent opioid
20 excipients has changed. We do require sponsors to
21 provide a risk assessment of the potential adverse
22 effects and risks that are associated with abuse of

1 the final drug product, ideally based upon the
2 results of their Category 1 studies. These types
3 of studies should consist of an in vitro assessment
4 for blood compatibility and perhaps an analysis of
5 the Category 1 data with either a literature-based
6 assessment or a nonclinical study to try to
7 understand the risk profile.

8 We believe that an adequate assessment of
9 the potential risks associated with the non-oral
10 abuse of the final drug product is necessary to
11 help inform the risk-benefit profile of the
12 product. And ultimately, in many circumstances, we
13 include the potential excipient related adverse
14 events from abuse of opioid drug product in section
15 9.2 of the prescription information.

16 I think it's important to step back and
17 remind ourselves a little bit about what some of
18 the nonclinical investigations showed when they
19 were trying to understand what was taking place
20 with the reformulated Opana ER product, and these
21 studies were actually published by Hunt, et al. in
22 2017 and previously presented to the advisory

1 committees that were discussing this particular
2 product.

3 As many of you may recall, Hunt, et al.
4 injected guinea pigs with a PEO-plus powder
5 formulation, and this included basically the
6 polyethylene oxide that was utilized to manufacture
7 the reformulated OPANA ER product, which had a mean
8 molecular weight of about 7 million daltons. It
9 also included some smaller amounts of hypromellose,
10 Macrogol, alpha tocopherol, and citric acid. These
11 are kindly supplied by Endo, the manufacturer of
12 that product. The doses that were utilized were
13 intended to try to mimic the amount of material
14 that humans were likely to be administering to
15 themselves when they were manipulating the product
16 for use.

17 It's important to note that the material
18 tested was not subjected to any type of heat curing
19 or other manufacturing processes. These studies
20 were conducted with more of the raw materials.
21 Hunt, et al. administered bolus doses of PEO-plus
22 at doses of about 0.1 to 0.3-milligram per kilogram

1 either once or 5 times over a 1.5-hour interval.
2 This did result in plasma levels of PEO that were
3 approximately 3 to 5 microgram per mL after the
4 single injection and rose up to 15 or 40 microgram
5 per mL after repeated injections. This actually is
6 pretty consistent with the estimated levels that
7 were predicted to occur by individuals who were
8 manipulating the product for intravenous use.

9 The results of this study did demonstrate
10 very much the similar types of clinical signs that
11 were noted in the public domain, and that includes
12 anemia, thrombotic microangiopathy, and acute
13 kidney injury. The investigators noted this was
14 not due to a direct effect as the in vitro
15 assessments did not reproduce any of the anemia,
16 and that they hypothesized that it was likely an
17 indirect effect due to perhaps increased shear
18 stress in the microvasculature, and deposition of
19 free hemoglobin from the lysed red blood cells into
20 tissues.

21 So the big question today is does MNK-812
22 have the same risk for thrombotic microangiopathy

1 as the Opana ER reformulation. The sponsor, as you
2 heard this morning, conducted some studies to try
3 to address this exact question. And in particular,
4 as you also heard, they conducted some toxicology
5 studies with syringeable material from Category 1
6 manipulations of MNK-812.

7 They noted that there were no adverse
8 effects the in vitro blood compatibility studies,
9 very similar to the results noted by Hunt, et al.
10 They noted as well that in rabbits that were
11 injected once a day for 3 days with syringeable
12 material from two different Category 1 conditions,
13 the animals were ultimately sacrificed on day 4.

14 It's important to note that following IV
15 administration of this material, there was evidence
16 of oxycodone related clinical signs. There was
17 approximately a 50 percent increase in spleen
18 weight, and there were some slight increases,
19 minimal to mixed-cell infiltrates in the eye,
20 minimal to slight mix-cell infiltrates in the lung,
21 and some spleen congestion that were noted.
22 However, there was no clear evidence of thrombotic

1 microangiopathy or acute kidney injury the
2 conditions tested.

3 The sponsor actually also submitted some
4 additional studies, some studies that were
5 conducted with two different compounds, PEO 200K,
6 or 200,000, or PEO with a mean molecular weight of
7 2 million I'll refer to as 2000K. It's important
8 to note that neither one of these excipients are
9 present in MNK-812, but the data are actually very
10 interesting because they do test raw material PEO
11 at a molecular weight that is lower than the
12 material that was tested by Hunt, et al. and
13 present in the reformulated Opana ER product. It's
14 also important to note that this material as well
15 was not subjected to any heat curing or other
16 manufacturing processes, but actually, these
17 studies were conducted with the raw materials.

18 In the results of these studies, the animals
19 that were dosed either singly or for 14 days with a
20 200,000 molecular weight mean PEO, there was no
21 evidence of deaths, and there was predominantly
22 vacuolation of tissues. Lymphocyte macrophage

1 infiltrates were noted in the heart, but there was
2 no strong evidence of anemia or microangiopathy.

3 It's important to note as well that one high-dose
4 animal that was administered for 14 days with this
5 material did show some minimal necrosis of the
6 heart at recovery, although it's not clear whether
7 or not it's completely treatment related or not.

8 In contrast, the animals that were dosed
9 with the 2-million mean molecular weight PEO
10 materials did show evidence of deaths, renal
11 injury, anemia, myocardial degeneration, and
12 necrosis, consistent with microangiopathy. So
13 collectively, if we look at the data that we have
14 available to us from the Hunt studies, as well as
15 these particular studies, it's reasonable to
16 conclude that higher molecular weight PEO does
17 appear to perhaps produce significant toxicities if
18 it were injected intravenously, and perhaps with
19 either a greater or a faster onset, depending upon
20 the molecular weight, although there's very limited
21 data available to date.

22 It's important I think to step back and

1 realize that polyethylene oxide is not a single
2 compound. It's actually a spectrum of compounds
3 that is a polymeric material of vast amounts of
4 different molecular weights. And from a chemistry
5 perspective, anything over a molecular weight of
6 about 100,000 is generally referred to as a
7 polyethylene oxide, and anything below a molecular
8 weight of about 100,000 chemically is referred to
9 as a polyethylene glycol.

10 Polyethylene glycols of low molecular
11 weight, approximately 600 daltons, are actually
12 present in FDA-approved IV drug products. We noted
13 today as well, and we've heard repeatedly, that the
14 OPANA ER product actually contained a molecular
15 weight polyethylene oxide of approximately mean 7
16 million daltons. In the public domain as well, it
17 is known that the OxyContin product has a PEO in it
18 as well, with a mean molecular weight of 4 million.
19 And we just looked at some data with 2 million and
20 200,000 that also helped put into perspective some
21 of the adverse events that could occur if this
22 compound was actually able to be extracted from the

1 materials and administered to animals.

2 I think there are several conclusions and
3 limitations that are worth pointing out in today's
4 discussion. First, the data that we have available
5 to us, although limited, does suggest that uncured
6 higher molecular weight PEO, if injected
7 intravenously, can be expected to result in
8 thrombotic microangiopathy, acute kidney injury,
9 cardiac damage, retinal damage, as we've seen
10 before. It's certainly reasonable to conclude that
11 if manipulation of an abuse-deterrent opioid for IV
12 use could extract higher molecular weight PEO, we
13 would expect similar toxicities would occur, likely
14 in a dose- and duration-dependent manner, possibly
15 molecular-weight dependent as well.

16 The IV toxicology data to date in rabbits
17 with manipulated MNK-812 did not demonstrate the
18 same degree of damage as reported by Hunt, et al.
19 with PEO plus in guinea pigs or even in the 2
20 million molecular weight studies that were
21 conducted in the rats. However, there are some key
22 limitations that are worth noting.

1 First, the content of the syringeable
2 material tested in MNK-812 IV tox studies is not
3 known. We do not know if PEO was present in that
4 material or not or at what doses. The MNK-812
5 studies also dosed once a day for 3 days, and the
6 manipulations for the tablets were a single series
7 of manipulations, and this may not necessarily
8 reflect human abuse patterns.

9 There are a couple other key points that are
10 also worth noting. First, it is recognized that
11 there are other FDA approved opioids that also
12 contain polyethylene oxide, including OxyContin,
13 Hysingla, Arymo, and Zohydro. To date, these
14 products do not appear to carry the same risk for
15 thrombotic microangiopathy as reformulated Opana
16 ER. We do note that there are three published
17 reports of thrombotic microangiopathy with
18 manipulated OxyContin, but these are published
19 overseas, and to date we have not noted that in the
20 United States.

21 It's also important to note that not all
22 PEO-based abuse-deterrent opioid drug products are

1 the same. It's quite possible that there are
2 differential risks that could be based on a variety
3 of factors, including there may be very distinct
4 differences in the manufacturing processes: the
5 curing methods, the amount of heat and the duration
6 of heat, and the additives that are present during
7 the manufacturing of the products.

8 There very well may be differences in the
9 molecular weight of the PEO used as well that can
10 contribute to this differential profile noted to
11 date. There may be differences in the methods used
12 to prepare these products for abuse via the IV
13 route, and it's also possible that there is just a
14 very distinct different pattern of abuse for either
15 the drug substance and/or the drug products
16 themselves.

17 In terms of our overall assessment,
18 specifically with respect to the thrombotic
19 microangiopathy risk, the risk of PEO in various
20 abuse-deterrent opioid drug products cannot be
21 simply extrapolated across the class based upon
22 reformulated Opana ER. Injecting any manipulated

1 oral drug product is likely to result in
2 significant toxicity, including granulomas,
3 thrombotic microangiopathy, and certainly the risk
4 of spread of infectious disease.

5 The FDA cannot rule out the possibility that
6 adverse effects could occur with more frequent
7 and/or more prolonged administration of manipulated
8 MNK-812 for intravenous use. However, if the PEO
9 in the product is able to be extracted into an IV
10 syringe and injected, we would expect similar
11 results as noted with reformulated Opana ER, likely
12 in a dose- and duration-dependent toxicity due to
13 accumulation of the PEO in the system. And
14 certainly, it's also worth noting that if this
15 product is approved, it would likely include
16 similar warnings in labeling with respect to the
17 adverse event profile that could occur if
18 manipulated through injection by the IV route as is
19 concluded in many other opioid drug products.

20 **FDA Presentation - James Tolliver**

21 DR. TOLLIVER: Good morning. My name is
22 James Tolliver. I'm a pharmacologist within the

1 controlled substance staff of the Office of the
2 Director, Center for Drug Evaluation and Research
3 within the FDA. MNK-812 two tablets are being
4 developed as an abuse-deterrent formulation under
5 NDA 209774.

6 According to sponsor, this formulation
7 contains excipients intended to cause nasal
8 irritation expected to deter intranasal abuse. In
9 support of this claim, sponsor submitted intranasal
10 human abuse potential study MNK48121013, which is a
11 randomized, placebo-controlled, double-blind,
12 double-dummy, 4-period crossover study, utilizing
13 38 non-dependent recreational opioid users with
14 experience insufflating drugs. Treatments included
15 oral intact, 30-milligrams MNK-812, as well as
16 insufflated placebo manipulated MNK-812
17 30 milligrams and manipulated oxycodone
18 hydrochloride IR milligrams as positive control.

19 I would like to briefly discuss the data of
20 this study supporting the intranasal
21 abuse-deterrent effect via an aversive mechanism.
22 My focus will be on the primary comparison of

1 insufflated MNK-812 30 milligrams versus
2 insufflated oxycodone IR 30 milligrams.

3 I will be using a number of terms defined in
4 this slide. With regard to pharmacokinetic data,
5 Cmax is the maximum achieved oxycodone plasma
6 concentration. Tmax is the time to achieve Cmax.
7 The area under the AUC is the area under the
8 oxycodone plasma concentration versus time curve
9 out at selected intervals indicative of cumulative
10 oxycodone exposure.

11 With regard to pharmacodynamic measures and
12 data, VAS stands for the 0 to 100 point Visual
13 Analogue Scale. Emax is maximum or peak effect.
14 Tmax is the time to Emax. And AUE stands for the
15 area under the effect versus time curve at selected
16 post-dosing, and reflecting cumulative experience
17 for the subjective effect.

18 I will briefly discuss the following data
19 generated in the intranasal study: percentage of
20 dose insufflated pharmacokinetics of oxycodone
21 following insufflated treatments, and the 0 to
22 100-millimeter VAS scales for the subjective

1 effects of ease of snorting, drug liking, high,
2 take drug again, overall drug liking, and bad
3 effects. I will also mention the subject rated
4 nasal tolerability assessment.

5 The percentage of dose insufflated for
6 placebo manipulated MNK-812 and oxycodone
7 hydrochloride are provided in this slide. For all
8 three treatments, the mean percentage of dose
9 insufflated was at 98 percent or above. Three
10 subjects insufflated 85 percent, 84 percent, and
11 92 percent of the MNK-812. All but one subject who
12 insufflated 97 percent of dose insufflated the
13 entire oxycodone hydrochloride IR dose. Neither
14 the nasal aversive effect nor the size of the MNK-
15 812 30-milligram tablet had much of an effect on
16 insufflation of the entire dose.

17 The ease of snorting VAS is a subject rated
18 assessment of difficulty in insufflating each
19 treatment, which is taken at 5 minutes
20 post-insufflation. Subjects used the 0 to
21 100-point VAS to complete the statement. Snorting
22 the drug was: the anchors were zero, indicating

1 very easy, and 100 indicating very difficult. It
2 was obvious from the histogram that subjects
3 reported the insufflation of manipulated MNK-812
4 tablets to be more difficult compared to
5 insufflation of manipulated oxycodone IR tablets.
6 This greater difficulty could well be due to an
7 initial aversive effect impacting nasal
8 tolerability.

9 This slide pertains to the pharmacokinetics
10 of plasma oxycodone following insufflation of MNK-
11 812 and oxycodone IR. The graph presents the mean
12 oxycodone plasma concentration as a function of
13 time following insufflation of either drug. There
14 was a fairly close overlap of oxycodone plasma
15 levels for both treatments. Both treatments
16 achieved a similar mean Emax of 55 nanograms per
17 milliliter.

18 Although much of the rise in oxycodone
19 plasma concentration occurred within the first
20 hour, the Tmax occurred actually at 2 and 2.4
21 hours, respectively. As is evident in the graph,
22 the area under the concentration curve from 0 to

1 1 hour was higher following oxycodone IR compared
2 to following MNK-812.

3 The relevance of this limited greater
4 oxycodone exposure over the first hour
5 pharmacodynamic measures is not clear. Overall,
6 this data suggests differences in oxycodone
7 bioavailability may be at most of limited
8 importance in contributing to differences in
9 subjective measures between the two treatments.

10 The next couple of slides will pertain to
11 the subjective measures of drug-liking VAS, the
12 primary measure, and the high VAS. Both measures
13 are taken at selected times post-dosing, beginning
14 at 15 minutes and extending out to 12 hours. In
15 addition, both measures assess at the moment
16 subjective effects.

17 For assessing drug liking, subjects are
18 asked do you like the drug effect you were feeling
19 now? Subjects respond using a bipolar VAS anchored
20 at zero, strong disliking; 50, neither like or
21 dislike; and 100, strong liking. For high VAS,
22 subjects are asked, do you feel high? Subjects

1 respond using a unipolar VAS anchored at zero
2 equals none and 100 extremely.

3 The results of the drug-liking VAS are
4 provided in this slide. The graph shows mean
5 drug-liking scores as a function of time out to
6 4 hours. Insufflation of oxycodone IR resulted in
7 a rapid rise in drug liking within the first 0.25
8 hours. By contrast, with insufflation of MNK-812
9 at the same early time point, there was actually a
10 dip in mean drug liking into the negative range;
11 that is into the mid 40's, possibly due to an
12 aversive nasal effect.

13 Over the first hour, the mean drug liking
14 following insufflated MNK-812 increased possibly
15 due to a reduction in the severity of head aversive
16 nasal effects. For insufflated MNK-812 and
17 oxycodone IR, Emax of drug liking were 77.4 and
18 82.7, achieved with a median of 1.49 and 1 hour,
19 respectively. When evaluating for at least a 10
20 percent reduction in Emax by insufflated MNK-812
21 compared to oxycodone IR, no significant difference
22 was found between the two treatments, raising

1 question of clinical relevance.

2 As is also evident from the graph, when
3 examining the cumulative drug-liking experience
4 over the first half hour, as represented by AUE
5 from 0 to 0.5, there was a significant reduction
6 associated with insufflated MNK-812.

7 The results of the high VAS are provided in
8 this slide. The graph shows mean high scores as a
9 function of time out to 4 hours. Insufflation of
10 oxycodone IR resulted in a rapid rise in high
11 within the 0.25 to 0.5 hours. Following
12 insufflation of MNK-812, the rise in high is
13 slower, reaching most of its peak by 1 hour.

14 Insufflation of oxycodone IR and MNK-812
15 resulted in Emax values of 72.6 and 68.0,
16 respectively, which were not statistically
17 significantly different. Median TEmax values for
18 both were 1 and 1.5 hours, respectively. Over the
19 first hour, cumulative high experience, as
20 represented by area under the effect curve, was
21 lower following insufflation of MNK-812 compared to
22 oxycodone hydrochloride IR.

1 Take drug again VAS is a global assessment
2 administered at 12 hours and 24 hours
3 post-insufflation where all of the drug effect has
4 subsided. Subjects reflect back over the treatment
5 experience in each period. Subjects are asked,
6 would you want to take the drug you just received
7 again if given the opportunity? The response is
8 documented on a bipolar VAS anchored at zero by
9 definitely would not; at 50 by do not care, and at
10 100 by definitely would.

11 The table provides the least square means
12 for Emax take drug again for the three intranasal
13 treatments. As might be expected, insufflation of
14 placebo resulted in the mean score in the neutral
15 range of 50. Subjects expressed a clear
16 willingness to insufflate oxycodone IR again if
17 given the opportunity, as reflected in a
18 take-drug-again score of 77.

19 By contrast, as suggested from the mean
20 score of 46.4, subjects did not care whether they
21 insufflated manipulated MNK-812 again. This
22 reduction in take drug again from 77 to 46.4 was

1 statistically significant and suggested a possible
2 deterrent effect of this formulation to intranasal
3 abuse.

4 It is noteworthy that the score for take
5 drug again following insufflation of MNK-812 did
6 not fall further into the negative range of the
7 bipolar scale, indicating a stronger willingness
8 not to take the treatment again.

9 The overall drug-liking VAS is another
10 global assessment administered at 12 and 24 hours
11 post-insufflation. Subjects reflect back over each
12 treatment by considering this statement, "Overall,
13 my liking for this drug is," the response is
14 documented on a bipolar VAS anchored at zero by
15 strong disliking; 50 by neither liked or disliked;
16 and at 100 by strong liking.

17 As evident, from a score of 77.5, subjects
18 documented a positive overall drug-liking
19 experience following insufflation of oxycodone IR.
20 With insufflation of MNK-812, there was a
21 significant reduction to 49.8, close to that
22 resulting from placebo insufflation, indicating a

1 lack of either liking or disliking the insufflated
2 of MNK-812.

3 While aversive intranasal effect might have
4 dampened any liking experience, it was not strong
5 enough to push subjects to strongly dislike the
6 overall experience of the insufflation of MNK-812.
7 The result of overall drug-liking VAS still
8 supports a possible deterrent effect of MNK-812 to
9 intranasal abuse.

10 The bad effects VAS was conducted at
11 selected times starting at 0.25 hours
12 post-insufflation. Subjects responded to the
13 question, does the drug have any bad effects?
14 Using a bipolar VAS with anchors at zero of none
15 and 100 of extremely. The graph is that of mean
16 bad effect scores as a function of time
17 post-insufflation.

18 Insufflation of placebo or oxycodone IR
19 resulted in minimal bad effect scores.
20 Insufflation of manipulated MNK-812 was associated
21 with bad effects, which were highest at the
22 earliest time point of 0.25 hours. Emax values for

1 bad effects following insufflation of MNK-812 and
2 oxycodone IR were 38.5 and 18.5, respectively, and
3 statistically significantly different.

4 Overall, this data suggests a limited
5 adverse nasal effect associated with the
6 insufflation of MNK-812, most evident at the
7 earliest time point measured, which was 0.25 hours.

8 This table provides data from the subject
9 rated nasal tolerability assessment following
10 insufflation of MNK-812. I'm not providing the
11 nasal tolerability assessment for insufflated
12 oxycodone IR or placebo due to the very low levels
13 of adverse nasal effects observed with these two
14 treatments.

15 Looking at the table, the first column lists
16 the 6 nasal symptoms that subjects were asked to
17 assess, including burning; need to blow nose; runny
18 nose/nasal discharge; facial pain/pressure; nasal
19 congestion; and throat irritation. Severity
20 assessment was conducted using a 4-point scale
21 consisting of zero, no effect; 1 mild effect; 2,
22 moderate effect; and 3, severe effect.

1 The second column denotes the time points of
2 0.25, 0.5, and 1 hour post-insufflation. The last
3 four columns provide the percentage of the 38
4 subjects who rated the symptoms as none, mild,
5 moderate, or severe. The columns denoting none and
6 mild symptoms were color coded by time in shades of
7 blue. Columns denoting moderate and severe nasal
8 symptoms are coded in shades of orange.

9 The largest percentage of subjects reporting
10 the severe or moderate adverse nasal symptoms was
11 at the earliest measured time point of 0.25 hours
12 as seen in the lightest shade of orange. For a
13 rating of severe, the range was 15 to 30 percent.
14 For a rating of moderate, the range was 27 to 37.5
15 percent.

16 Over the subsequent 45 minutes, the
17 percentage of subjects reporting severe and
18 moderate ratings of nasal symptoms dropped off
19 substantially to ranges of 0 to 2.5 percent and 5
20 to 12.5 percent, as seen by the darkest shade of
21 orange.

22 As can be seen from examining the 0.25-hour

1 time point, looking at the light blue area now,
2 versus the 1-hour time point, which is the dark
3 blue, over the first hour, there was an increase in
4 the percentage of subjects documenting no nasal
5 symptoms or mild nasal symptoms. By 1 hour, the
6 percentage of subjects reporting none or mild nasal
7 symptoms were in the range of 42 to 57 percent and
8 35 to 50 percent, respectively.

9 So by 1 hour, moderate and severe adverse
10 nasal symptoms subsided substantially with the
11 concomitant increase in reports of either no
12 symptoms or mild adverse nasal affects.

13 Conclusions from this study. The overall
14 findings suggest that MNK-812, 30-milligram
15 tablets, in contrast to oxycodone hydrochloride IR
16 30-milligram tablets, may provide a deterrent
17 effect to insufflation. This deterrent effect was
18 most likely, not primarily, due to differences in
19 oxycodone exposure. An alternative explanation
20 would be that the insufflation of MNK-812 is
21 associated with a limited degree of nasal
22 irritation that is most intense over the first 0.25

1 hour and subsides over the first hour.

2 The slow rise in drug liking and high
3 observed over the first hour post-insufflation may
4 reflect, in part, the decline and the severity of
5 the adverse nasal symptoms documented by subjects
6 over this same period. However, following that
7 period, following that delay, subjects did in fact
8 experience both drug liking and high.

9 Last conclusion is that significant
10 reductions in take drug again VAS and overall
11 drug-liking VAS, following insufflation of MNK-812
12 compared to oxycodone hydrochloride IR, are
13 consistent with a possible aversive effect
14 associated with MNK-812. The two. The fact that
15 both measures of these reductions did not extend
16 substantially into the negative region of the
17 bipolar scales may reflect the limited extent of
18 the aversive effects and/or the fact that following
19 the delay, subjects did experience significant
20 levels of drug liking and high.

21 Thank you. The next speaker is Tamra Meyer,
22 who will talk about the epidemiology of oxycodone

1 misuse and abuse.

2 **FDA Presentation - Tamra Meyer**

3 DR. MEYER: Good morning. I'm going to
4 apologize in advance for my voice. It's not quite
5 as bad as Dr. Zeltzer's, but sorry you have to
6 listen to it for a while.

7 As Jim said, I'm Tamra Meyer. I'm the team
8 lead for the prescription drug abuse team number 1
9 in the Division of Epidemiology II, in the Office
10 of Surveillance and Epidemiology in CDER. I will
11 be presenting recent epidemiologic data on use,
12 misuse, and abuse of oxycodone products. The
13 reason we're doing this is to provide context for
14 the committee to consider the potential public
15 health risk and benefits of approval of MNK-812.

16 FDA continues to consider public health
17 risks throughout the life cycle of opioid products.
18 We recently began presenting formal evaluations of
19 trends in misuse, abuse, and related outcomes for
20 similar marketed opioids at advisory committee
21 meetings for new opioid approvals, and this is to
22 help the committee weigh the potential public

1 health risks and benefits of a new opioid approval.

2 This practice is consistent with
3 recommendations from a 2017 report released by the
4 National Academies of Sciences, Engineering, and
5 Medicine. Public health considerations should
6 include both unintended consequences as well as use
7 in non-target populations.

8 The purpose of this presentation is to
9 provide you with a relevant public health framework
10 to consider alongside other data. Our two
11 objectives are first, to review the utilization of
12 oxycodone products; and second, to review
13 epidemiologic data on misuse and abuse of
14 oxycodone-containing products and comparator drugs.

15 A special note is that many of the data
16 sources that we reviewed do not distinguish well
17 between extended-release and immediate-release
18 formulation products, and neither do they
19 distinguish well between brand products and generic
20 products. So where possible, we will provide
21 formulation-specific data, and then where it's not
22 available, we will provide it combined.

1 We will not describe published studies of
2 the association between abuse-deterrent
3 formulations of opioids and reductions in misuse,
4 abuse, and related outcomes, as we still await a
5 complete submission of postmarket data under
6 postmarket requirements that might demonstrate a
7 meaningful effect on misuse, abuse, or related,
8 adverse clinical outcomes in the community.

9 I'll begin by presenting data on utilization
10 of oxycodone-containing products and comparator
11 drugs, and these data are extracted from IQVIA
12 National Prescription Audit. The specific
13 questions that we sought to answer were which are
14 the most frequently dispensed immediate-release
15 opioid analgesic products; how frequently are
16 specific oxycodone products dispensed in the U.S.,
17 and among products intended to deter abuse, which
18 are the most frequently dispensed?

19 This slide shows the nationally estimated
20 number of dispensed prescriptions in millions from
21 2013 to 2017 in outpatient retail settings, and
22 this shows the top five immediate-release opioid

1 analgesics. The most commonly dispensed opioid
2 analgesics during this time period were hydrocodone
3 with acetaminophen, followed by tramadol, oxycodone
4 with acetaminophen in the black dash line, and then
5 single-entity oxycodone immediate-release products
6 noted in the black solid line.

7 For reference, there were 14 million
8 oxycodone immediate-release, single-entity
9 prescriptions dispensed in 2013 and 17 million
10 dispensed in 2017.

11 This figure shows the nationally estimated
12 number of dispensed prescriptions for
13 oxycodone-containing products only. Overall,
14 dispensing of oxycodone prescriptions, shown in the
15 gray boxes, peaked in 2015 at 56 million
16 prescriptions dispensed, and it has subsequently
17 decreased to 50 million prescriptions dispensed in
18 2017.

19 The vast majority of oxycodone prescriptions
20 in 2017 were either for combination or
21 single-entity oxycodone immediate-release products,
22 while fewer than 8 percent were for an oxycodone

1 extended-release product, and these are mostly
2 abuse-deterrent formulations like OxyContin.

3 This figure shows the yearly estimates of
4 prescriptions dispensed for opioid analgesic
5 products with labeling that they appear to deter
6 abuse based on premarket testing. The active
7 pharmaceutical ingredients in this figure include
8 hydrocodone and morphine-containing products in
9 addition to oxycodone-containing. RoxyBond is the
10 only oxycodone immediate-release product that's
11 currently approved with labeling that is expected
12 to deter abuse. However, it does not appear in
13 this slide because it was not marketed during this
14 time period.

15 Reformulated OxyContin, an extended-release
16 version of oxycodone, delineated by the solid black
17 line at the top, accounted for 88 percent of
18 abuse-deterrent products dispensed in 2017.

19 There's been a downward trend in dispensing of
20 reformulated OxyContin with 4.9 million
21 prescriptions dispensed in 2013 and 3.4 million in
22 2017. Other abuse-deterrent opioids appear to be

1 increasing in market share during recent years.

2 The second part of this presentation
3 describes misuse and abuse of oxycodone-containing
4 products and comparator drugs. We will address
5 the following questions.

6 What is the current scale of misuse and
7 abuse of prescription opioids? Which are the most
8 frequently abused opioids? What are common routes
9 of abuse for oxycodone-containing products,
10 including available abuse-deterrent formulations?
11 And what is the magnitude of morbidity and
12 mortality associated with oxycodone-containing
13 products versus comparator drugs?

14 The definitions of misuse and abuse used for
15 the majority of this talk are consistent with what
16 FDA has previously issued in guidance to industry.
17 Misuse is defined by FDA as the intentional
18 therapeutic use of a drug product in an
19 inappropriate way, and it specifically excludes the
20 definition of abuse. Misuse will include things
21 such as taking more than prescribed, taking more
22 often than prescribed, or using someone else's

1 medication to treat pain or for sleep. Abuse is
2 defined as the intentional non-therapeutic use of a
3 drug product or substance, even once, to achieve a
4 desirable psychological or physiological effect,
5 and abuse would include use to get high.

6 We used a number of data sources that are
7 described in detail in the background information
8 provided, and as I go through the results, I'll
9 provide a brief description about each of the
10 relevant data sources. First, I will describe the
11 scale of misuse and abuse for oxycodone-containing
12 products and other opioids.

13 The data presented in this graph are from
14 the National Survey on Drug Use and Health, also
15 called NSDUH. It's a federally-funded general
16 population survey of non-institutionalized
17 individuals ages 12 years and older in the United
18 States. The most frequently misused prescription
19 opioid products in 2016 were hydrocodone and
20 oxycodone, with misuse defined by NSDUH to include
21 use of a drug in any manner, other than as
22 medically directed, which would include misuse and

1 abuse as defined by FDA.

2 In this figure, the Y-axis on the left
3 indicates the number of individuals in thousands
4 who reported past year misuse of the drug, and the
5 Y-axis on the right represents the percentage of
6 the total population. There was no statistically
7 significant change in levels of oxycodone misuse
8 from 2015 to 2016, and the total number of
9 individuals reporting misuse of oxycodone in 2016
10 was 3.9 million or approximately 1.5 percent of the
11 total population.

12 This figure shows the number of calls to
13 U.S. poison centers involving intentional exposure
14 to selected opioids from 2012 to 2016, and these
15 data come from the National Poison Data System.
16 The National Poison Data System is a national
17 network of poison centers receiving calls from the
18 public or healthcare workers. One strength of this
19 data source is that it collects information on
20 formulation in a standardized way. In NPDS,
21 intentional exposures include misuse, abuse, self
22 harm, and unknown reasons for exposure, although

1 they assume that they are intentional.

2 This figure demonstrates that over 3,000
3 calls per year reported intentional exposures to an
4 oxycodone-containing product over the period 2012
5 through 2016. Calls involving intentional exposure
6 to immediate-release oxycodone products occurred
7 much more frequently than those for
8 extended-release oxycodone products. There were
9 50,000 calls involving intentional exposure to an
10 oxycodone product over the entire time period,
11 while by comparison, 75,000 calls involved
12 intentional exposure to hydrocodone, 9500 calls for
13 morphine, and 24,000 calls for heroin.

14 Now, I will discuss the relative frequency
15 of abuse of specific products. This graph shows
16 the percent of respondents reporting past month
17 abuse of opioids within the RADARS Treatment Center
18 Program by the active pharmaceutical ingredient or
19 substance on the X-axis.

20 The RADARS Treatment Center Program is a
21 surveillance program that includes surveys of
22 individuals entering treatment for opioid-use

1 disorder. In this population, the oxycodone was
2 the most frequently abused prescription opioid
3 product at 35 percent of respondents, though heroin
4 was the most frequently abused opioid overall.

5 Formulation-specific data suggest that
6 there's more frequent abuse of immediate release
7 than extended-release products, as Dr. Dart earlier
8 noted. Twenty two percent of respondents reported
9 past month abuse of oxycodone immediate-release
10 products, and 15 percent reported past month abuse
11 of an oxycodone extended-release product in this
12 population.

13 However, after accounting for the
14 prescription volume, the relative frequency of
15 oxycodone abuse compared with other opioids
16 changes. This chart shows rates of past month
17 abuse per 100,000 dispensed dosage units by active
18 pharmaceutical ingredient or substance. Note that
19 heroin is not included on this chart since we do
20 not have estimates of dosage units for heroin.

21 Here we see that some of the more potent
22 agents, such as fentanyl and oxymorphone, are

1 abused more than other agents relative to their
2 overall levels of availability. Oxycodone
3 immediate-release products were abused less per the
4 amount dispensed when compared to oxycodone
5 extended-release products.

6 I will now discuss routes of abuse for
7 oxycodone and for opioids with abuse-deterrent
8 properties. This table shows the proportion of
9 abuse by ingestion, nasal, and parenteral routes of
10 abuse reported for single-substance abuse exposure
11 calls involving oxycodone-containing products from
12 the National Poison Data System from 2012 through
13 2016. The most common route of abuse is by
14 ingestion across all formulations of oxycodone.
15 84.4 percent of calls involving abuse of
16 immediate-release combination ingredient oxycodone
17 products were via ingestion, while 74 percent were
18 via ingestion for single-entity, immediate-release,
19 and extended-release formulations of oxycodone.

20 About 12 to 14 percent of abuse calls
21 involving single-entity, immediate, and
22 extended-release oxycodone involved non-oral routes

1 of abuse. Non-oral routes of abuse were less
2 common for combination immediate-release opioid
3 formulations in the National Poison Data System.

4 The frequency of non-oral abuse of opioid
5 analgesics depends on the population that you're
6 looking at. This table shows the percent of
7 respondents reporting specific routes of abuse in
8 the past month for prescription opioid analgesics
9 among patients entering or being assessed for
10 treatment of substance-use disorder in the NAVIPPRO
11 surveillance system that Dr. Dart presented
12 earlier. This is just a different time period, so
13 the numbers are a little bit different.

14 This population may be more enriched with
15 people who abuse drugs via non-oral routes, and
16 that is reflected in the frequency of routes of
17 abuse seen in this table. These data come from a
18 published study by Cassidy and colleagues and
19 covers the period from 2012 through June 2015.
20 From left to right, the columns for this table are
21 the category of opioids assessed and specific
22 routes of abuse assessed by the study, including

1 oral, snorting, and injection.

2 For combination oxycodone immediate-release
3 products, 70 percent of respondents reported oral
4 abuse, 40 percent reported snorting, and 10 percent
5 reported injection. For single-entity,
6 immediate-release oxycodone products, 40 percent of
7 respondents reported oral abuse while 60 percent
8 reported snorting, and 40 percent reported
9 injection.

10 In addition to data on oxycodone
11 immediate-release products, the Cassidy study
12 reported routes of abuse for extended-release,
13 long-acting products with properties intended to
14 deter abuse. This included oxycodone as well as
15 other opioid moieties. Extended-release,
16 long-acting products with properties intended to
17 deter abuse were commonly abused via non-oral
18 routes, but less so than oxycodone
19 immediate-release, single-entity products. We do
20 not yet have data on the route-specific abuse
21 patterns for RoxyBond, the only currently approved
22 immediate-release oxycodone product with

1 abuse-deterrent labeling.

2 Finally, I will show some information on the
3 contribution of oxycodone products to morbidity and
4 mortality. This slide shows some data from the
5 National Electronic Injury Surveillance System
6 Cooperative Adverse Drug Events Surveillance or
7 NEISS-CADES. This is a nationally representative
8 sample of emergency department visits in the United
9 States.

10 During 2016, there were nearly 300,000
11 estimated emergency department visits for harms
12 from prescription opioid products. Of those,
13 approximately 40 percent involved
14 oxycodone-containing products specifically. Of the
15 visits for harms attributed to oxycodone-containing
16 products, 36 percent were attributed to therapeutic
17 use of the products; 15 percent were attributed to
18 self-harm attempts; and almost half were attributed
19 to non-medical use of oxycodone products, which
20 included misuse, abuse, or overdoses without a
21 noted intent for the use.

22 Among the visits attributed to non-medical

1 use of oxycodone products, concurrent substance use
2 was common. About 50 percent of visits involved
3 more than one prescription opioid product;
4 32 percent involved oxycodone and a benzodiazepine;
5 and 48 percent involved illicit drugs or alcohol.

6 This graph shows the proportion of the
7 emergency department visits attributed to
8 non-medical use of oxycodone products that were
9 associated with specific categories of adverse
10 outcomes. Nearly 20,000, or 40 percent, resulted
11 in patients experiencing a serious adverse outcome
12 such as cardiac arrest, unresponsiveness, or
13 respiratory failure or distress, which is
14 represented by the solid black section of this
15 chart.

16 National data on drug involved mortality
17 were made available to the agency by the National
18 Center for Health Statistics Drug involved
19 mortality data combine the cause of death,
20 demographic, and geographic information from the
21 National Vital Statistics System mortality files
22 with information extracted from the death

1 certificate literal text, which allows for more
2 granular analysis of specific drugs involved in the
3 deaths.

4 In this figure, we see the number of deaths
5 involving various opioids over time. Included on
6 the graph are oxycodone, the solid black line;
7 hydrocodone, the solid gray line; morphine, the
8 darker dash line; and heroin, the gray dash line.

9 In a 6-year period from 2010 through 2015,
10 oxycodone involved deaths remained relatively
11 unchanged with between approximately 5[000] to
12 6,000 deaths per year. In contrast, there was a
13 sharp increasing trend observed for heroin involved
14 overdose deaths over the same time period rising
15 from approximately 3000 in 2010 to over 13,000
16 deaths in 2015.

17 We provided detailed limitations of the data
18 sources used in the background material, and I'll
19 describe some of the key limitations here briefly.
20 NSDUH IS affected by biases that are typical of
21 most surveys such as recall, response, or social
22 desirability bias. The National Poison Data System

1 likely under-captures exposures, particularly
2 overdoses resulting in out-of-hospital death. That
3 proportion of cases captured may vary over time as
4 well as across drug substances.

5 Data on abuse patterns and routes of abuse
6 patterns from the RADARS and NAVIPPRO Treatment
7 Center Data may not be nationally representative,
8 as they come from specialized populations with
9 presumably more advanced opioid and substance-use
10 disorders. Further, product misclassification can
11 occur due to the self-report.

12 NEISS-CADES data do not include cases that
13 result in death before or during emergency
14 department evaluation. There's also a potential
15 for misclassification of products here. These data
16 only include acute opioid harms resulting in an
17 emergency department visit. It does not include
18 visits for opioid withdrawal, seeking treatment,
19 detoxification, or inadequate therapy. For the
20 drug involved mortality data, reliance on the
21 literal text of death certificates is likely to
22 miss a proportion of opioid-related deaths that do

1 not contain an ingredient or a product listed in
2 the literal text.

3 In conclusion, oxycodone-containing products
4 are frequently dispensed in the U.S. and
5 combination-ingredient, immediate-release
6 formulations constitute the majority of dispensed
7 oxycodone prescriptions. Oxycodone-containing
8 products are among the most frequently misused and
9 abused prescription opioid products per population,
10 but not after taking into account the prescription
11 volume.

12 We had no data on routes of abuse for
13 RoxyBond, the currently approved oxycodone,
14 immediate-release product with abuse-deterrent
15 labeling, but other available abuse-deterrent
16 products are known to be abused by non-oral routes.
17 And despite the growing popularity of illicit
18 opioids, oxycodone-containing products continue to
19 be involved with morbidity and mortality in the
20 U.S.

21 I want to briefly acknowledge other members
22 of the FDA review team. Each of you has

1 contributed substantially to either the analysis or
2 the interpretation of the data presented. And now,
3 I will turn the presentation back over to
4 Dr. Nadel.

5 **FDA Presentation - Jennifer Nadel**

6 DR. NADEL: I will now present a clinical
7 summary of the abuse-deterrent features. I will
8 address the following in my presentation: the
9 goals for abuse-deterrent opioid formulations, also
10 known as ADFs, and the current experience; a brief
11 summary of the abuse-deterrent testing results; a
12 summary of the Category 1 testing; a summary of the
13 excipients safety results; and lastly, I will
14 briefly discuss the risks and benefits of
15 abuse-deterrent products.

16 Prescription opioid products are an
17 important component of pain management, however,
18 abuse and misuse of these products have created a
19 serious and growing health problem. The FDA
20 developed a guidance for abuse-deterrent opioids in
21 response to this problem. The guidance explains
22 how we can evaluate and label abuse-deterrent

1 properties. It is important to remember that
2 abuse-deterrent properties are designed to
3 meaningfully deter abuse. They do not prevent
4 abuse.

5 Abuse-deterrent technologies should target
6 known or expected routes of abuse relevant to the
7 proposed product. Some of the most common
8 approaches are outlined in the guidance. Physical
9 and chemical barriers can limit drug released
10 following mechanical manipulation or change the
11 physical form of a drug, rendering it less amenable
12 to abuse.

13 For aversion, substances can be added to the
14 product to produce an unpleasant effect if the
15 dosage form is manipulated or used at a higher
16 dosage than directed. Lastly, an opioid antagonist
17 can be added to interfere with, reduce, or defeat
18 the euphoria associated with abuse.

19 Now we will discuss the goals for a
20 successful ADF. They are twofold, consistent and
21 effective delivery of an opioid dose when the ADF
22 is used as labeled; and either an expectation of or

1 achievement of a reduction in abuse by making the
2 ADF more difficult to abuse by one or more relevant
3 routes.

4 While goals are useful, let's also discuss
5 our current experience with ADFs. We know that
6 ADFs are not abuse proof and do not prevent
7 addiction. The FDA has approved 10 opioid
8 analgesic products that are labeled with
9 abuse-deterrent properties in accordance with the
10 FDA guidance entitled Abuse-Deterrent Opioids:
11 Evaluation and Labeling Guidance for Industry.

12 Abuse-deterrent labeling is based on data
13 from premarket studies. There are three categories
14 of premarket studies, Category 1, which are in
15 vitro studies; Category 2, which are
16 pharmacokinetic studies; and Category 3, which are
17 clinical abuse-potential studies.

18 All approved ADFs have postmarketing
19 requirements to conduct additional Category 4
20 studies. As stated in the FDA guidance, the goal
21 of postmarket studies is to determine whether the
22 marketing of a product with abuse-deterrent

1 properties results in meaningful reductions in
2 abuse, misuse, and related adverse clinical
3 outcomes, including addiction, overdose, and death
4 in the post-approval setting. Published studies
5 evaluating ADFs in the post-approval setting exist,
6 however, to date, none of the sponsors of ADF
7 opioid analgesics have completed and submitted all
8 the required postmarketing studies.

9 Now, let's discuss the abuse-deterrence
10 properties of MNK-812. It contains aversive agents
11 that are intended to cause nasal irritation and
12 potentially deter intranasal abuse. It is also
13 purported to form a viscous solution when mixed
14 with small quantities of liquid, potentially making
15 it more difficult to inject. It has physical and
16 chemical characteristics that are expected to make
17 it more difficult to crush to a fine powder, making
18 inhalation more difficult. Based on the
19 abuse-deterrent features, the applicant concludes
20 that MNK-812 is difficult to abuse by the
21 intranasal and intravenous routes.

22 Now, I will summarize the abuse-deterrent

1 results from the MNK-812 by route of abuse. For
2 the intranasal route, as discussed earlier during
3 the review of the HAP study, subjects experienced
4 less overall drug liking and less willingness to
5 take drug again with MNK-812 as compared to the
6 immediate-release comparator under the conditions
7 tested.

8 With regard to the intravenous route under
9 certain conditions, 50 to 60 percent and 80 to 90
10 percent of oxycodone present in a tablet could be
11 isolated and potentially injected with small-volume
12 and large-volume extraction, respectively. Based
13 on an analysis of available epidemiological and in
14 vitro data, we do not currently consider smoking a
15 relevant route of abuse for oxycodone.

16 The implications of Category 1 testing are
17 clear. Oxycodone suitable for IV use can be
18 extracted from MNK-812. The amount of extracted
19 oxycodone and the extraction volume may lead to
20 sharing among persons who inject drugs. Given what
21 occurred with reformulated Opana ER, other
22 important public health consequences should be

1 considered.

2 Postmarket experience with ADFs has yielded
3 some unanticipated outcomes when ADFs are abused by
4 unintended routes. Based on the available data,
5 some parallels can be drawn between reformulated
6 Opana ER and MNK-812. Reformulated Opana ER, much
7 like MNK-812, suggested some abuse deterrence by
8 the nasal route.

9 In the case of reformulated Opana ER, data
10 suggested that persons abusing the drug shifted
11 from one route of abuse, nasal, to another more
12 dangerous route of abuse, injection. This shift
13 from non-parenteral to parenteral use of
14 reformulated Opana ER was consequential. Some who
15 abused via the IV route experienced thrombotic
16 microangiopathy with use of manipulated,
17 reformulated Opana ER, which an investigation
18 suggested was related to tampering and injection of
19 the PEO excipient.

20 Additionally, the method for preparation of
21 reformulated Opana ER for injection resulted in a
22 solution that could be shared. We saw an increase

1 in the transmission of bloodborne diseases, HIV and
2 hepatitis C, in people who are sharing reformulated
3 Opana ER. The existing limited nonclinical data
4 suggests that IV injection of extracts of MNK-812
5 did not result in clear evidence of thrombotic
6 microangiopathy, but the FDA cannot rule out an
7 increased risk with more frequent and/or prolonged
8 treatment, or manipulation using different
9 conditions.

10 Now, I will discuss the risks and benefits
11 of abuse-deterrent products in general. For risks,
12 there are several concerns. Some of the risks of
13 the excipients are unknown until the drug is more
14 widely used. Postmarketing analysis found that
15 OxyContin had the potential for GI risk with
16 swelling and hydrogelling of the pill when taken by
17 the intended oral route. There is the potential
18 for excipient-related adverse events when abused by
19 unintended routes. There is also the concern of
20 possible shifting from the intranasal route to the
21 more dangerous intravenous route or substitution of
22 highly lethal illicit opioid such as heroin.

1 The benefits at this point have been less
2 than straightforward. There is no real benefit to
3 the individual intended patient when the drug is
4 used as directed. There is the potential for
5 improved product safety through reduced abuse of
6 the drug by patients or others who may access the
7 drug. However, the benefits to society are
8 theoretical at this point and have not been
9 supported by data.

10 The FDA continues to weigh the benefit-risk
11 balance of ADF opioid analgesics. Currently, there
12 is no data to show that ADFs slow progression of
13 opioid-use disorder will reduce the risk of
14 addiction. It is also important to remember for
15 all ADFs that we cannot predict everything that
16 could happen with the drug product once it is
17 marketed.

18 In summary, the safety and efficacy of MNK-
19 812 is based on demonstration of bioequivalence to
20 Roxicodone. The abuse-deterrent data for MNK-812
21 show that there is some abuse deterrence by the
22 intranasal route. Results of Category 1 studies

1 conducted demonstrate that oxycodone suitable for
2 IV use can be extracted from MNK-812 under certain
3 conditions.

4 Large volumes can be extracted and
5 potentially result in solution sharing. If the PEO
6 in this product is able to be extracted into a
7 syringe and injected, we may see similar
8 consequences to reformulated Opana ER.

9 **Clarifying Questions**

10 DR. BATEMAN: Are there any clarifying
11 questions for the FDA or the speaker? Please
12 remember to state your name for the record before
13 you speak. If you can, please direct questions to
14 a specific presenter. Dr. Goudra?

15 DR. GOUDRA: Dr. Goudra from Penn Medicine.
16 I've been following the deaths related to opioids
17 for years, and it's clear that as the total number
18 of prescriptions are going down, the number of
19 deaths are going up, something like 60-plus
20 thousand.

21 Two questions. One, what's the explanation
22 of the FDA? And second, if approval of ADF

1 products is going to make it even worst, are we
2 looking for answers in the wrong place with these
3 ADF products?

4 DR. STAFFA: This is Judy Staffa. I'll try
5 to address that. With regard to prescription
6 volume going down and deaths going up, yes, that is
7 correct. Many of the additional deaths are, we
8 believe, the epidemic morphing toward heroin and
9 fentanyl. So you can see that from the curves.
10 But we acknowledge prescription opioids do still
11 play a role in deaths, and many deaths are
12 polysubstance.

13 With regard to the role of the
14 abuse-deterrent formulations in that, I don't think
15 we know yet. As we've mentioned, it's very
16 complicated to try to assess the impact of one
17 particular intervention, the introduction of these
18 products, amidst a lot of interventions going on at
19 the same time. So it's not clear to us exactly
20 what the role of abuse-deterrent formulations has
21 been or continues to be in driving those
22 statistics. We don't know.

1 Dom, did you want to jump in?

2 DR. CHIAPPERINO: Yes, I wanted to add one
3 thing to that. In the context of heroin and
4 fentanyl abuse, we also know that there are a lot
5 of new psychoactive substances, synthetics that are
6 chemical analogs of those substances, and some of
7 them are more potent than the known drugs
8 substances fentanyl and heroin. So we don't know
9 to what extent the far more potent fentanyl
10 derivatives that are in the marketplace as illicit
11 are playing in the rising death tolls.

12 DR. GOUDRA: Thank you.

13 DR. BATEMAN: Dr. Higgins?

14 DR. HIGGINS: Jennifer Higgins. The first
15 question is for Dr. Tolliver, and it's regarding
16 the conclusions on slide 50 of his presentation.
17 With respect to number 2, which offers
18 contradictory kind of findings, I'm
19 wondering -- and this is probably a question for
20 the panel, which is probably how you'll respond.
21 But is it your belief that a 1-hour delay in a
22 positive experience for the abuser is sufficient

1 enough to deter abuse?

2 Your conclusions were a little
3 contradictory, and I'm just trying to reconcile
4 that.

5 DR. HERTZ: Could we get that slide up,
6 please?

7 DR. TOLLIVER: That's slide 15?

8 DR. HIGGINS: Fifty, 5-0.

9 DR. TOLLIVER: 5-0.

10 DR. HIGGINS: And I'm referring to number 2.

11 DR. TOLLIVER: All right. We know that
12 there was a rise. There was a correlation in the
13 rise in drug liking and high over the first hour.
14 And at the same time during that time period, there
15 was a reduction in the nasal effects.

16 I'm doing two things here. Number one, I'm
17 assuming that that rise and reduction are relevant
18 to one another. And also, I'm assuming that the
19 pharmacokinetics would provide, at most, a limited
20 effect, based upon the previous slide that I
21 provided.

22 DR. HIGGINS: So is it your sense that -- I

1 guess I'm trying to understand this better. So
2 after that 1 hour, the 1-hour delay, there could
3 easily be -- if someone got past that 1 hour, it
4 could still be pleasurable and not a deterrent in a
5 certain sense.

6 DR. TOLLIVER: Well, that's exactly what I'm
7 getting at, yes.

8 DR. HIGGINS: Yes.

9 DR. TOLLIVER: I think the idea is that by
10 1 hour, most of the nasal effect is gone.

11 DR. HIGGINS: Right.

12 DR. TOLLIVER: There's a lingering effect
13 there. I mean, it goes out. The sponsor went out
14 quite a ways, so you have this very, very small
15 amount of nasal tolerability, and it's by the mild
16 effect, whatever that is. But at the same time,
17 you've seen this rise. And in fact the Emax, the
18 maximum effect, although it occurs later, you still
19 have that effect out at somewhat a later time
20 point.

21 What I'm doing is I'm thinking that in terms
22 of looking at take drug again or overall drug

1 liking, keep in mind what they're doing here.
2 These two measures are given at 12 and 24 hours
3 after the dosing. The effect is already gone.
4 You're no longer looking at, at the moment
5 subjective effects.

6 People are asked to think back over their
7 experience. So I'm assuming that they are going to
8 be thinking of the good effects and the bad
9 effects, both of them. Obviously, the bad effects
10 were experienced early on, 5 minutes with the ease
11 of snorting over the first hour. But that was
12 followed by sniffing at levels of drug liking and
13 high.

14 So I think that prevented these global
15 effects, such as overall drug liking and take drug
16 again, from really going into the very negative.
17 If these nasal effects had lasted much longer, most
18 likely, you wouldn't have had -- you would most
19 likely have had a dip, a real dip, down into maybe
20 the 30's, or 20's, or something like that, where
21 you're, I definitely don't want to take this drug
22 again --

1 DR. HIGGINS: Right.

2 DR. TOLLIVER: -- and I definitely think
3 that this was a very unpleasant experience for me.
4 They didn't say that, but it was enough to
5 significantly, over that initial period of time, to
6 serve as an aversive effect.

7 Does that help?

8 DR. HIGGINS: Yes, thank you. And just one
9 other quick question.

10 DR. BATEMAN: Can I move on to other
11 committee members? We'll come back to you if we
12 have time.

13 DR. HIGGINS: Okay.

14 DR. BATEMAN: We don't have a lot of time
15 for lunch.

16 Dr. Meisel?

17 DR. MEISEL: Steve Meisel. A question for
18 Dr. Nadel. You said there were no Category 4
19 studies available yet. OxyContin reformulated has
20 been on the market for 5, 6 years, something, 7.
21 What's the expectation for timelines on the
22 Category 4 studies if it's been that long, and we

1 still haven't seen it from that?

2 DR. HERTZ: Hi. This is Sharon Hertz. Our
3 expectation is that this data would have been
4 submitted to us years ago around the time they
5 started publishing.

6 DR. MEISEL: But it's a requirement.

7 DR. HERTZ: It's a requirement to get
8 additional labeling. It's not required to show
9 your drug is really, really good. It's a
10 requirement to report the problems that may be
11 occurring over time postmarketing. And our
12 postmarketing requirements are pretty much on a
13 safety side; that's usually what we do. We don't
14 require people to submit data to show they're
15 having a good effect. And if they're satisfied
16 with labeling that doesn't really demonstrate an
17 effect, then it's hard for us to force submission
18 of additional data.

19 DR. MEISEL: Well, then, I'm confused
20 because on slide 85, it says, "All of these
21 products have requirements to conduct additional
22 studies to evaluate whether the postmarket data

1 supports a meaningful effect on reduction of abuse,
2 misuse, or related outcomes in the community." I
3 mean, that not what you're describing.

4 DR. HERTZ: Well, they have it, but it's
5 hard for us to force them to submit it. They don't
6 have to comply. We don't have a way of really
7 going after that.

8 DR. MEISEL: Okay. So the requirement is
9 really not a requirement.

10 DR. HERTZ: Well, it is a requirement.

11 DR. STAFFA: This is Judy Staffa. I can add
12 to that. The science here is fairly new, and we've
13 required these studies, but we also have to approve
14 protocols and we have to approve the manner in
15 which the studies are done. With a new scientific
16 area, there's been a lot of back and forth with how
17 we believe the studies should be done and how we
18 could trust the results. So that has been part of
19 the delay in getting the results. But we do not
20 prevent companies -- we do not interfere with the
21 publication process. That's not our purview.

22 Does that help?

1 DR. HERTZ: But you have to wonder what it
2 means if they're busy publishing all this great
3 stuff, but they haven't submitted a formal package
4 for us to review. It always makes me wonder.

5 DR. BATEMAN: Dr. Fischer?

6 DR. FISCHER: Thanks. Mike Fischer, Boston,
7 and I'll try to be quick given the time. This is
8 for Dr. Amspacher, looking for a little bit of
9 clarification on your slides 14 and 17 for context,
10 the manipulation and the solubility. I'm less
11 interested in how many thousands of variants were
12 tried than if there is one or two that worked, that
13 easily they'll be communicating.

14 If you can speak to it without getting into
15 the stuff that's proprietary, you mentioned for the
16 mechanical tools, it's something that's quick and
17 easily available. If you could give some context,
18 how similar is that to other manipulations that
19 might commonly be done currently among communities
20 of people who use prescription opioids or
21 manipulate them for misuse?

22 Similarly, you mentioned mild relatively

1 simple solutions on slide 17. How similar is that
2 to things that are commonly done?

3 DR. AMSPACHER: This is Valerie Amspacher.
4 The methods that we're referring have historically
5 been used for abuse. This is something that we
6 know abusers do.

7 DR. FISCHER: So it would be a relatively
8 straightforward adaptation of things that people
9 are already doing to get it into that.

10 DR. AMSPACHER: It's something that's
11 already been reported. We're just asking or
12 performing testing according to what we've seen in
13 literature that abusers are doing.

14 DR. BATEMAN: Dr. Hernandez-Diaz?

15 DR. HERNANDEZ-DIAZ: My question's about the
16 replacement. The applicant emphasized the
17 replacement aspect, the intention of placement.
18 And I wonder if there is any specific plan for it
19 or an or an experience from FDA on the replacement
20 for other drugs, or if there are specific steps
21 that are going to be taken to ensure that
22 replacement, or it's just a wish.

1 DR. HERTZ: There is a variety of processes
2 that can occur. It depends in part on the
3 sponsor's plans. If the sponsor submits a plan to
4 discontinue marketing Roxicodone as it's currently
5 formulated and to be replaced by this, then we
6 would look at -- well, they have to factor in a
7 variety of conditions: how much is on the market;
8 how soon can they ramp up on the new product; all
9 of these different things.

10 If they decided they didn't want to withdraw
11 from the market, that's a more challenging
12 question, and we'd have to explore a number of
13 different options if we felt it was somehow
14 necessary or important for that switch to occur.

15 DR. BATEMAN: Dr. Robotti?

16 MS. ROBOTTI: Hi. Suzanne Robotti; a quick
17 question, although I have so many, but one.

18 To Dr. Hertz, does this applicant have any
19 outstanding requirements to conduct follow-up
20 studies on any drugs? Have they been submitted and
21 completed?

22 DR. HERTZ: Off the top of my head, I don't

1 think so.

2 MS. ROBOTTI: There is no follow-up
3 required?

4 DR. HERTZ: I think it might be easier to
5 ask the sponsor if there were any outstanding
6 commitments.

7 DR. SCHLICHER: I'm not aware of any
8 outstanding commitments. I'll double check that
9 with our regulatory staff and come back to you
10 after lunch. The only outstanding commitments
11 would be the ongoing work we're doing in seeking
12 approval of this
13 product; labeling, agreeing to what postmarketing
14 would be, but no other outstanding study
15 requirements.

16 DR. BATEMAN: I have a question for
17 Dr. Tolliver. Can you describe the guidance that
18 FDA gives sponsors regarding sample preparation for
19 the inhalation abuse-potential studies? I would
20 imagine that the PK and PD would be highly
21 dependent on the particle size that's generated
22 with the sample manipulation.

1 So is there guidance regarding a
2 standardized process for grounding up the tablets,
3 and measuring particle size, and doing some type of
4 QC around that process?

5 DR. TOLLIVER: I think the closest thing
6 that we have is that we ask the sponsors to try to
7 manipulate the formulation to the lowest particle
8 size possible, and that should be the manipulation
9 that they use. They should also provide
10 information on the particle-size distribution.

11 DR. AMSPACHER: This is Valerie Amspacher.
12 The sponsor used a technique to specifically get
13 down to a particle size that was insufflatable.
14 Like Dr. Tolliver was saying, they characterized it
15 and provided us with particle-size distribution, so
16 we can trust that the particle sizes were of
17 abusable use. They were very rigorous in their
18 particle-size characterization, so we know the
19 integrity of the data is excellent.

20 DR. BATEMAN: Great. Thank you.

21 Dr. Marshall?

22 DR. MARSHALL: Brandon Marshall, Brown

1 School of Public Health. I've got a question for
2 the FDA. Much of the data here focuses on the
3 impact of the ADF formulations on patients or
4 users. I'm interested on the effect of these
5 formulations on prescriber behavior. Are there any
6 studies on the perceptions of these medications in
7 the prescribing community? Might they increase
8 particularly inappropriate prescribing for
9 conditions where non-opioid medications would be
10 appropriate?

11 So I guess my concern is that if we assume
12 that these medications decrease the risk of
13 diversion or abuse per prescription but we're just
14 increasing the overall rate of inappropriate
15 prescribing, those effects might be completely
16 counterbalanced.

17 DR. HERTZ: I think that's a really good
18 question, and it's something that we worry about
19 and have some anecdotal data that makes us worry,
20 not quite to the extent that you may be concerned.
21 So we don't have any data right now that suggest
22 increased prescribing of the existing

1 extended-release products that have abuse-deterrent
2 properties over what had been happening prior to
3 those. And in fact, in spite of a number of
4 approved abuse-deterrent formulations, the
5 prescribing numbers overall are dropping, including
6 the prescribing numbers of abuse-deterrent
7 formulations such as OxyContin.

8 The concerning part is we've done some
9 preliminary work, and there is an ongoing study
10 looking at what prescribers think ADF means,
11 because we have heard concerns, actually from past
12 committee members and from other sources, that
13 prescribers somehow -- well, it's no surprise they
14 don't read the labeling. I mean, we know that's an
15 ongoing problem. But they're not reading the
16 label, and for some reason, they are perceiving a
17 Schedule II opioid as either not addictive anymore
18 or that the formulation makes it abuse proof.

19 All these formulations are able to do at
20 most, and we're waiting for the postmarketing data.
21 But theoretically the idea is to impair the ability
22 to abuse the product.

1 These products have to deliver the opioid,
2 otherwise they're not analgesics. So at the end of
3 the day, there's always going to be a way to get
4 the opioid into the systemic circulation. And the
5 idea is to make the products less amenable to ways
6 to increase the yield from abuse, to deter the
7 attempts because they're not as successful.

8 The focus that the sponsor described is
9 about people who are on the early path to abuse;
10 that when they get to oral, the next step is often
11 nasal before they get to IV. And if you can make
12 it not rewarding in a useful way, meaning aversive
13 in this case, that perhaps they'll just give that
14 up. We don't know yet if that's a true theory, a
15 provable outcome, but that's what we're hoping
16 might be the case.

17 I think I wandered from the question. But
18 just to get back to the question, we have a formal
19 study looking at what the extent of these
20 misperceptions are, and then we're going to do some
21 work to try and figure out if we need to change the
22 terminology to something that can't be easily

1 assumed to do something that it's not meant to
2 convey.

3 DR. BATEMAN: One last question before
4 lunch. Dr. Zibbell?

5 DR. ZIBBELL: Thank you. John Zibbell, RTI
6 International, Emory University. This is for FDA.
7 I don't know who to address it to. Are
8 chemically-based aversion mechanisms, like the one
9 used here, meant to generate nasal discomfort used
10 in any of the other 10 FDA-approved abuse-deterrent
11 opioid products?

12 DR. HERTZ: The short answer is no. I will
13 give you a more complete answer. There is a
14 current immediate-release product on the market
15 that has some aversive properties or purported
16 aversive properties. It was evaluated before our
17 current guidance clarified what was necessary or
18 appropriate for the evaluation. But it's not
19 counted in the 10 products that we described, and
20 it doesn't have the type of abuse-deterrent
21 language that the others have.

22 DR. ZIBBELL: Just a quick follow up; I know

1 we're doing lunch. Oh, take it.

2 DR. BATEMAN: All right. We will now break
3 for lunch. We will reconvene in this room in one
4 hour, at 1:00 p.m. Please take any personal
5 belongings you may have with you at this time.
6 Committee members, please remember that there
7 should be no discussion of the meeting during lunch
8 amongst yourselves, with the press, or with any
9 members of the audience. Thank you.

10 (Whereupon, at 11:59 a.m., a lunch recess
11 was taken.)

12

13

14

15

16

17

18

19

20

21

22

A F T E R N O O N S E S S I O N

(1:00 p.m.)

Open Public Hearing

DR. BATEMAN: Good afternoon.

Both the Food and Drug Administration and the public believe in a transparent process for information gathering and decision making. To ensure such transparency at the open public hearing session of the advisory committee meeting, FDA believes that it's important to understand the context of an individual's presentation.

For this reason, FDA encourages you, the open public hearing speaker, at the beginning of your written or oral statement to advise the committee of any financial relationship that you may have with the sponsor, its product, and if known, its direct competitors. For example, this financial information may include the sponsor's payment of your travel, lodging, or other expenses in connection with your attendance at the meeting.

Likewise, FDA encourages you at the beginning of your statement to advise the committee

1 if you do not have any such financial
2 relationships. If you choose not to address this
3 issue of financial relationships at the beginning
4 of your statement, it will not preclude you from
5 speaking.

6 The FDA and this committee place great
7 importance in the open public hearing process. The
8 insights and comments provided can help the agency
9 and this committee in their consideration of the
10 issues before them. That said, in many instances
11 and for many topics, there will be a variety of
12 opinions. One of our goals today is for this open
13 public hearing to be conducted in a fair and open
14 way, where every participant is listened to
15 carefully and treated with dignity, courtesy, and
16 respect. Therefore, please speak only when
17 recognized by the chairperson. Thank you for your
18 cooperation.

19 Will speaker number 1 step up to the podium
20 and introduce yourself?

21 (No response.)

22 DR. BATEMAN: Speaker number 1?

1 (No response.)

2 DR. BATEMAN: Okay. We'll move on to
3 speaker number 2. Step up to the podium and
4 introduce yourself. Please state your name and any
5 organizations you are representing for the record.

6 DR. WOLFE: I'm Sidney Wolfe from the Public
7 Citizen Health research group, and I have no
8 financial conflicts of interest. Before getting
9 into the small number of slides that I have time to
10 show, a number of people -- I don't know how many
11 on this committee -- were there at a meeting over a
12 year and a half ago in March of 2017, when the
13 topic was Opana ER, which was mentioned this
14 morning. And the issue was that this drug had been
15 approved by the FDA in the beginning of 2012, and
16 it had made an attempt for abuse-deterrent
17 labeling, but had never gotten it.

18 So one of the reasons for the hearing in
19 March was to see whether it could get
20 abuse-deterrent labeling. And the conclusion of
21 the meeting was to take it off the market, so it
22 became the first -- I mean, Dr. Hertz is absolutely

1 right that none of the ones on the market have
2 really finished the studies that they said they
3 would do and were required to do the so-called
4 phase 4 to show that they actually deter abuse.

5 That drug, Opana ER, actually enhanced
6 abuse. And the reason I mention it is because so
7 many of the characteristics of that drug in the
8 Category 1 studies were similar to the
9 characteristic of MNK-812. So it's worth I think
10 going over.

11 The data in the last couple of slides were
12 in the approval package, which was put up on the
13 internet at the beginning of 2012. So these are
14 all data known to the FDA before it was put on the
15 market. And I say FDA mistakes because the mistake
16 I think was approving it, and we can talk more
17 about that later. But we're talking mainly about
18 this drug.

19 These are a couple slides just showing what
20 they knew from the phase 1 studies. And the second
21 thing, "New formulations have documented a minimal
22 improvement in resistance to tampering by crushing,

1 and thereby limiting the likelihood of abuse by
2 crushing." And then finally they say, "It is now
3 rendered readily abusable by ingestion and
4 intravenous injection, and possibly still by
5 insufflation." So this was known at the time of
6 approval, and it was approved, and we'll spend
7 about 30 seconds at the end on that.

8 The second issue is a further statement by
9 the FDA based on the Category 1 studies. "Can
10 easily be prepared for injection despite -- and
11 those claims of OPR tablets have resistance to
12 aqueous extraction," et cetera -- "poor
13 syringeability. In addition, certain data suggests
14 OPR may be more easily prepared for injection than
15 OP, the non-abuse-deterrent version of the
16 extended-release oxymorphone."

17 This has been mentioned this morning, and
18 this is just a quote from the briefing document.
19 "Extraction time 2 hours less, variety of
20 ingestible solvents of varying pH, approximately 80
21 to 90 percent of oxycodone hydrochloride will be
22 released from intact. When testing using complex

1 extraction -- 87 percent."

2 Now, you heard different figures as a
3 function of whether a small or large volume. I
4 think the point is that this is an IV abuse
5 non-deterrent formulation; the fact that
6 Dr. Fischer's question earlier was what are the
7 kinds of solvents that are used or can be used?
8 Are they common solvents? And the answer was,
9 "Yeah, they're common."

10 Opana ER, again, this difference
11 from -- this is the difference between what's going
12 on today. In the approval package for the drug,
13 Opana ER, not taken to advisory committee because
14 there were no unusual concerns regarding efficacy
15 or safety. I mean, certainly it worked, and the
16 normal safety concerns as opposed to abuse concerns
17 weren't thought important enough to have an
18 advisory committee meeting. Today, both the DSaRM
19 and AADPAC are there. They should always be there
20 for any meeting involving opioids.

21 So we get to the voting questions, can it be
22 labeled as nasal route of abuse? I say no because

1 even though initially it has an effect, later on,
2 it breaks through.

3 Should it be listed as abuse deterrent by
4 the intravenous route? The answer is clearly no
5 because the data are there with a small volume or
6 large volume. The difference between the extended
7 release and small is you just use more pills.

8 Finally, the approval question. Should
9 oxycodone hydrochloride MNK-812 be approved for the
10 management of pain severe enough to require an
11 opioid analgesic for which alternative treatments
12 are inadequate? No.

13 If I may take about 8 more seconds. If this
14 would get approved, you would have the same kind of
15 problem. You're deterring somewhat intranasal
16 abuse and switching to intravenous. That's what
17 happened in Opana ER, and if this is approved, we
18 will have a repeat of that. Thank you.

19 DR. BATEMAN: Will speaker number 3 please
20 step up to the podium and introduce yourself?
21 Please state your name and any organization that
22 you're representing for the record.

1 MS. LITZ: Hello. My name is Stacy Litz,
2 and I am an addict. I am not anonymous, and I am
3 no longer living my life in shame of addiction. I
4 am not being paid by any drug companies, but my
5 travel expenses are being reimbursed.

6 I am currently in my 10th year of
7 celebrating recovery, and I have learned to use my
8 mistakes to create a message, a message that I am
9 fortunately able to share with others inside and
10 outside of gels on a daily basis.

11 As a state certified, peer recovery support
12 specialist, working with community behavioral
13 health services out of Hamilton, Ohio, I recently
14 spoke on Capitol Hill earlier this year about
15 non-opiates and using opiates when needed. And I
16 still stick to my stop it where it starts message.
17 But now I want to direct our attention to those
18 individuals with chronic pain and acute post-op
19 pain, to those individuals that need opiate. Yes,
20 I believe that some individuals need opiates. We
21 all know that not everyone prescribed opiates
22 becomes addicted.

1 So until we're able to determine those who
2 are susceptible to opiate addiction, then we must
3 put in place an abuse-deterrent version on the
4 opiates that are known to help these type of pain
5 patients, instead of going through the whole
6 process of creating new drugs.

7 A person in pain should not have to jump
8 through hoops, or suffer just to obtain relief, or
9 suffer from others' mistakes. I also don't think
10 that pain patients should have to worry about their
11 pain medications being stolen and abused by others.
12 With an abuse-deterrent version, patients would
13 have one less worry consuming their minds resulting
14 in faster recovery. No one wants to deal with the
15 excruciating pain from a surgical procedure, so the
16 immediate release that this medication delivers
17 will allow the acute post-op patient to heal more
18 comfortably.

19 Now, I have heard countless versions of
20 various addiction stories often where the addict
21 has resorted to snorting them and breaking them
22 down for IVs, and I'm no stranger to this method.

1 Even I had resorted to snorting my prescribed pain
2 meds to receive a faster -- [audio break].

3 While my story of addiction isn't like other
4 stories, my disease is. I was just fortunate
5 enough, unlike numerous addicts, to receive help
6 before my addiction had escalated to an
7 unrecoverable or even fatal degree. I had endured
8 long periods of pain due to a herniated disc that
9 was discovered during my second trimester of
10 pregnancy. I was then quickly referred to pain
11 management after childbirth and received opiates
12 before and after my back surgery for continual pain
13 management. My pain meds increased in quantity or
14 milligram with each returning visits, which brought
15 on opiate-induced hyperalgesia.

16 We are aware of the effects and causes
17 brought on by opiate abuse and the outcomes that
18 have resulted. The opiate epidemic has brought on
19 such a large degree of losses in every way, that
20 words alone cannot do justice. We can't forget
21 about those patients that are in pain. Do they
22 deserve to suffer? Would you want to, if it was

1 you? Thank you.

2 DR. BATEMAN: Thank you. Will speaker
3 number 4 step up to the podium and introduce
4 yourself? Please state your name and any
5 organization that you're representing for the
6 record.

7 MR. THOMPSON: Good afternoon. My name is
8 Edwin Thompson. I'm the president of
9 Pharmaceutical Manufacturing Research Services.
10 Today, Mallinckrodt's asking you to approve their
11 drug without submitting clinical studies for
12 substantial evidence of efficacy. Instead, they're
13 relying on Roxicodone to supply clinical evidence
14 of substantial evidence of efficacy. The problem
15 is Roxicodone didn't submit any clinical studies
16 for substantial evidence of efficacy.

17 Let's look at the data. Oxycodone
18 5 milligram was approved in May 2009. Dr. Hertz
19 approved it. And you can see it's a supplement
20 that had as an addition the 5-milligram tablet. In
21 addition to what? The 15- and -0 milligram tablet.
22 So let's look at the 15- and 30-milligram approval.

1 This is Dr. McCormick's notes in reviewing
2 the 15- and 30-milligram approval. "Currently,
3 oxycodone exists in the marketplace in many forms
4 by virtue of DESI evaluation for the
5 immediate-release product, 5 milligrams in
6 combination" -- critical -- "in combination with
7 aspirin." That's Percodan.

8 "The currently available oxycodone IR
9 5-milligram product that is being marketed as a
10 single entity analgesic has no historic basis for
11 approval. This NDA contains no efficacy data." So
12 the 5 milligram has no efficacy data and the 15 and
13 the 30 have no efficacy data. "It presents a
14 problem." It sure does, the root cause of which is
15 the basis for the determination of efficacy of
16 single-entity oxycodone immediate release.

17 You have no evidence of efficacy in studies,
18 and you have no reference-listed drugs. How did 5,
19 15, and 30-milligram Roxicodone ever get approval?
20 How can you support approval of a drug that uses it
21 as a reference-listed of drug?

22 Let's go on. This is the letter to Roxane

1 written by the FDA.

2 "There are no data submitted in support of
3 the effectiveness of immediate release 15 and 30
4 milligram oxycodone in this application. There is
5 also no link to any product for which the FDA has
6 made the finding of efficacy. Clinical safety in
7 the higher doses, 15 to 30 milligram, has not been
8 adequately established with the database
9 submitted."

10 So not only don't you have efficacy; you
11 don't have safety data. And "there is no link to
12 any product for which the FDA has made the findings
13 of safety in higher doses." It doesn't look like
14 this is getting approved, does it? But it does.

15 Let's go on. The review says, "A bridging
16 study or studies will be required from which the
17 agency can link its prior findings of efficacy for
18 immediate release oxycodone to your product seeking
19 approval. Such a bridging study is" going to be a
20 biopharmaceutic study.

21 "An adequate rationale will we required for
22 the extension of the dosage form." What extension?

1 Five milligrams not approved. What are you
2 extending from? "For 15 and 30 milligram without
3 having provided clinical studies demonstrating
4 efficacy at higher doses."

5 So let's look. NDA 21-011 for oxycodone 15
6 and 30 milligrams was originally submitted in
7 September 1998. Application requested approval of
8 15-30 milligrams -- the sponsor's marketed,
9 although unapproved, 5-milligram oxycodone.

10 "No data to support effectiveness were
11 included in the NDA as comparative studies included
12 only the subject of this application and/or
13 unapproved 5-milligram tablets. The application
14 has been filed as a 505(b)(1), although it's
15 provided no clinical useful effectiveness data."

16 So what happens? The agency recommends
17 performing a relative bioavailability study for
18 their product or previously-approved product, but
19 there is none. You don't have single-entity
20 oxycodone approved in the United States; providing
21 adequate rationale for extension. Again, extension
22 from what? To approve 15-and 30-milligram tablets

1 in the absence of clinical evidence of efficacy.

2 This submission included the results of a
3 bioequivalence study to Percodan, a combination
4 drug. Right? Is it also a line extension of the
5 5 -- it's not a line extension of an unapproved
6 drug.

7 So what happens? In 1975, the federal
8 government passes a law, the Code of Federal
9 Regulations, 300.50. It requires that two or more
10 drugs, a combination drug, which applies to
11 Percodan, when combined in a single dose, each
12 component must make a contribution. That says
13 "Percodan." Oxycodone and aspirin must be
14 statistically significantly more effective than
15 oxycodone alone, or it violates the law. So you
16 can't use Percodan as your reference-listed drug,
17 but the FDA approves this drug on Percodan.

18 DR. BATEMAN: Please conclude your remarks.

19 MR. THOMPSON: I will.

20 Finally, on your page background 105, you
21 can see that the sponsor informs you that oral MNK-
22 812 always exceeds intranasal use here. The oral

1 always beats the intranasal. You're trying to
2 protect something that doesn't need protecting.
3 Passing labeling that provides intranasal abuse
4 labeling is a scam. It's a fraud, on physicians
5 and on patients. Tmax is always smaller; Cmax is
6 always larger for oral over any form of intranasal
7 use. Your answer should be vote no. Thank you.

8 DR. BATEMAN: Thank you.

9 Will speaker number 5 please step up to the
10 podium and introduce yourself? Please state your
11 name and any organizations you are representing for
12 the record.

13 MR. CICHON: Good afternoon. I'm Charlie
14 Cichon, the executive director of the National
15 Association of Drug Diversion Investigators, NADDI.
16 I have nothing to declare.

17 With 25 chapters in 31 states and over 4,000
18 members, NADDI is the leading drug diversion
19 training organization in the U.S. with the largest
20 networking platform of professionals involved in
21 the field of pharmaceutical drug diversion. The
22 NADDI networking platform provides the opportunity

1 to bring diverse viewpoints, education, supports,
2 and resources to the individuals facing the
3 challenges in the fight against the misuse and
4 abuse of pharmaceutical drugs.

5 Prescription drug abuse does not
6 discriminate by region, socioeconomic status, or
7 age. The Centers of Disease Control and Prevention
8 have identified prescription drug abuse as an
9 epidemic reporting more than 15,000 American deaths
10 each year from prescription painkillers. An
11 important step in the abuse-deterrent prevention
12 process for both new and chronic pain sufferers is
13 the development of abuse-deterrent technologies for
14 opioids.

15 NADDI's a nonprofit organization that works
16 to develop and implement solutions to the problems
17 of prescription drug abuse and diversion. NADDI
18 advocates for the responsible use of prescription
19 drugs by people who need them, and at the same
20 time, we work with law enforcement and regulators
21 to pursue those involved in related criminal
22 activity.

1 Continuing progress in the field of pain
2 management involves a juggling act that balances
3 the needs and interests of those involved. The
4 development process involves all the stakeholders
5 in the medical treatment of pain: clinical, legal,
6 regulatory, law enforcement, and industry. NADDI
7 recognizes that no one approach to maintaining this
8 critical balance will succeed unilaterally.

9 Therefore, we support ongoing interaction and
10 cooperation among all who can impact the access to
11 competent health care, and who can effect diversion
12 and abuse of medications.

13 A scientific approach was taken to reduce
14 illegal street activity. And speaking with and
15 surveying our members at our trainings throughout
16 the country, it appears likely that the rates of
17 diversion decreased dramatically after the
18 introduction of reformulated abuse-deterrent
19 opioids.

20 This new drug application under review for
21 an immediate-release oral tablet formulation of
22 oxycodone has been formulated with the intent to

1 deter abuse. Adding new physical and chemical
2 features to prescription opioids to deter abuse
3 could also reduce misuse of these drugs, and at the
4 same time the deadly consequences.

5 These products can be part of a
6 comprehensive approach, which should include
7 prevention, interdiction, prosecution, and
8 substance abuse treatment. While the first
9 generation of abuse-deterrent formulations have
10 reduced abuse and diversion, any advances of the
11 technology that would further erode the street
12 value of opioids and maintain access to the
13 individuals who benefit from their relief would be
14 welcomed.

15 In short, NADDI believes that
16 abuse-deterrent formulations of opioids can
17 interrupt the abuse trajectory for these
18 medications by preventing manipulation for nasal
19 and intravenous abuse. This is true whether the
20 drug is obtained by prescription or is diverted to
21 an unintended user.

22 NADDI supports expanding access to ADFs in

1 order to reduce prescription drug abuse and
2 diversion, and we continue to be a strong proponent
3 of new abuse-deterrent medicines that make it more
4 difficult for the abuser and reduce law enforcement
5 involvement in health care. Thank you.

6 DR. BATEMAN: Thank you. Will speaker
7 number 6 step up to the podium and introduce
8 yourself? Please state your name and any
9 organizations that you are representing for the
10 record.

11 MAJ-GEN PRICE: Good afternoon. My name is
12 Major-General Barry Price, U.S. Army retired. I
13 serve as the executive vice president and chief
14 operating officer of the Community Anti-Drug
15 Coalitions of America, better known as CADCA.
16 located in Alexandria, Virginia.

17 CADCA supports prodrug technology such as
18 abuse-deterrent formulations that make opioids
19 harder to abuse. As you know all too well, our
20 nation is in the grips of a major opioid crisis
21 every day. Countless lives are lost to drug
22 overdoses related to prescription opioid and heroin

1 abuse, and many communities and states continue to
2 be burdened by this complex problem.

3 We know that the Centers of Disease Control
4 recently announced that more than 72,000 people
5 died from overdose deaths in 2017, up from 64,000
6 in 2016. To address this epidemic, CADCA believes
7 we must utilize a comprehensive approach, which
8 encompasses evidence-based prevention, treatment
9 and intervention, and recovery support services.

10 CADCA supports enhancing medical training
11 and proper prescribing of powerful prescription
12 opioids and requiring drug manufacturers to create
13 abuse-deterrent formulations for prescription
14 opioids to make it more difficult for people to
15 abuse these medications. While abuse-deterrent
16 formulations are not without limits, they are
17 another step in thwarting abuse of these powerful
18 and highly addictive medications.

19 In 2013, CADCA supported the tampering of
20 prescription pills, Stop Act, which directs
21 pharmaceutical manufacturers to invest in research
22 and production to formulate tamper-resistant drugs

1 in order to compete with drugs of a similar nature
2 that already employ tamper-resistant technologies.

3 At the end of the day, CADCA knows that
4 primary prevention, stopping drug abuse before it
5 starts, will be the key to our nation's opioid and
6 heroin crisis. Centers of Disease Control data
7 shows that addiction to alcohol, marijuana, or
8 cocaine all increase the probability of heroin use.
9 Not surprisingly, those addicted to prescription
10 opiates are 40 times more likely to use heroin.

11 Mallinckrodt's new drug application for an
12 abuse-deterrent reformulation of Roxicodone appears
13 to represent a medication that when properly
14 prescribed may be of great benefit to help deter
15 the abuse of opioids. CADCA supports their
16 application from this viewpoint.

17 As required by the FDA rules, Mallinckrodt
18 Pharmaceutical is a corporate partner of CADCA and
19 has provided a discretionary grant to assist CADCA
20 in their mission of building healthy, safe, and
21 drug-free communities globally. If the panel has
22 any questions of me or CADCA, please contact me at

1 703-706-0560, extension 222, or to email
2 bprice@cadca.org. Thank you for this opportunity
3 to appear before your hearing.

4 DR. BATEMAN: Thank you. Will speaker
5 number 7 step up to the podium and introduce
6 yourself? Please state your name and any
7 organization that you're representing for the
8 record.

9 MR. MULLENIX: Good afternoon. My name is
10 Steve Mullenix. I have been asked to provide the
11 testimony of Mr. Dan Cohen in his absence, and I
12 have agreed to do that.

13 "Thank you for the opportunity to offer
14 comments this afternoon, and I appreciate the
15 reader of my remarks due to an unavoidable schedule
16 conflict.

17 "My name is Dan Cohen, and I am the chair of
18 the Abuse Deterrent Coalition. The ADC is a talk
19 forum comprised of ADF innovators, patients and
20 issue advocates, and research groups dedicated to
21 educating the public policymakers and the FDA on
22 the importance of developing and expanding access

1 to the most current ADF technologies.

2 "Today my comments are in support of
3 Mallinckrodt and its immediate-release,
4 single-entity oxycodone product designated as MNK-
5 812, which incorporates an abuse-deterrent
6 technology and with the proposed indication for the
7 management of pain severe enough to require an
8 opioid analgesic for which alternative treatments
9 are inadequate.

10 "MNK-812 is bioequivalent to Roxicodone and
11 will be available in strengths of five, 10, 15, 20
12 and 30 milligram for oral administration. MNK-812
13 incorporates Mallinckrodt's immediate-release,
14 abuse-deterrent technology, which is a formulation
15 based on physical and chemical barriers and
16 aversive agents that impart a meaningful deterrence
17 to intranasal and intravenous abuse.

18 "What is particularly exciting is the plan
19 of Mallinckrodt to eventually replace its currently
20 approved, non-abuse deterrent, immediate-release
21 oxycodone product with the new MNK-812 formulation.
22 My understanding is that Mallinckrodt will work

1 with trade pharmacy partners, distributors, and
2 payers to stock, dispense, and reimburse its new
3 abuse-deterrent formulation, a pure market
4 replacement strategy that offers the potential
5 benefit of abuse deterrence without a single
6 solitary risk to appropriate patient treatment.

7 "So the primary question before the advisory
8 committee today is, is it reasonable to approve
9 MNK-812 ADF formulation as safe and effective, and
10 does it have the potential to discourage intranasal
11 and intravenous abuse? As the panel prepares to
12 answer this fundamental question, it is important
13 to ensure we are using similar terms for this
14 discussion. MNK-812 is designed to offer the same
15 treatment benefit as its comparator for a patient
16 requiring analgesia, but with a expected reduced
17 risk of abuse and misuse. That is an ideal and
18 pure definition of ADF.

19 "Do not fall into the trap by considering
20 what is not under review today, and that is whether
21 MNK-812 is an abuse-prevention formulation or ADF.
22 That is because there is no abuse-proof

1 formulations. Products with ADF technology do not
2 and are not expected to be abuse proof. They are
3 designed only to lower, through deterrence, the
4 abuse potential of the products.

5 "Is this deterrence perfect? In a word, no.
6 There is no such thing as a perfect deterrence, but
7 this is clearly state-of-the-art abuse-deterrence
8 technology and can be expected to deter and
9 intranasal and intravenous abuse. Innovators in
10 ADF technology do want ADF technologies that do
11 more, but your question for this advisory committee
12 is, will we adopt the science that is possible
13 today and not wait for what we hope will be a
14 technology of tomorrow?

15 "Keep in mind that technological feasibility
16 is why intranasal and intravenous abuse deterrence
17 is a consideration and oral abuse deterrence is but
18 an aspiration. The development of abuse-deterrent
19 formulation is part of a multifactorial approach to
20 reduce risk and abuse and diversion. Nothing is
21 abuse proof and oral ADF is not technically
22 feasible today, even as both aspirations remain the

1 goal of innovators.

2 "ADF is getting more effective, but we
3 cannot get future innovation by failing to approve
4 current discovery. But to give full meaning to
5 ADF, it is important to agree on another term. Who
6 is the customer for deterrence technology? We
7 believe that ADF will ultimately reduce the number
8 of addicts and highly experienced abusers in the
9 future by reducing abuse progression at its early
10 stages. Abusers that are deterred from progressing
11 to ever more aggressive forms of abuse, such as
12 intranasal and intravenous use, is the goal of ADF.

13 "Lastly, the population adjusted rate of
14 abuse of immediate-release opioid products is over
15 4 times greater than extended-release products.
16 Over 220 million immediate-release opiate
17 prescriptions were issued in 2017, yet there is
18 only one abuse-deterrent, immediate-release opioid
19 product approved and waiting market
20 commercialization. These are the entry level
21 opioids that need deterrence properties.

22 "My ask of this panel is do not make the

1 perfect the enemy of the good. Immediate-release
2 oxycodone is a common target of abuse and
3 relatively high rates of intranasal and intravenous
4 abuse. The data presented by the sponsor this
5 morning demonstrated that MNK-812 offers and
6 abuse-deterrent replacement IR, an
7 immediate-release oxycodone product that provides
8 similar safety and efficacy to comparator products,
9 but at a reduced risk of abuse and misuse."

10 DR. BATEMAN: Can you conclude your
11 comments, please?

12 MR. MULLENIX: Yes.

13 "Overall, the results of the in vitro and
14 clinical studies leave this panel with but one
15 question. What more would you need to see to vote
16 yes?" Thank you.

17 DR. BATEMAN: Thank you. Will speaker
18 number 8 step up to the podium and introduce
19 yourself? Please state your name and any
20 organization you're representing for the record.

21 MR. BRASON: Good afternoon. My name is
22 Fred Brason. I represented Project Lazarus. I

1 have no disclosures today. I'm here to not share
2 more about what we've been doing in the United
3 States to address the issues of prescription drugs
4 and opioids and heroin and fentanyl. I will say
5 that I no longer see this as an opioid crisis
6 epidemic. I see this as a substance-use epidemic
7 because of the amount of polypharmacy that is
8 hitting our communities.

9 I found myself involved in this entire issue
10 back in 2004 and '05 as a chaplain and a director
11 for hospice. In doing so, I kept my chaplain
12 charge with me in all that I've been doing. And
13 that charge is to do no harm, to make sure that we
14 prevent, reduce, and help those in harm.

15 So all we do and all we've looked at doing
16 from prescriber education to emergency department
17 and hospital system policies, to working with
18 people with pain that deal with substance-use
19 disorder, and community education and the like, we
20 have made sure that we do no harm to anybody and
21 have no adverse events or effects with them. In
22 order to do that, we had to make sure that we were

1 preventing overdoses, but at the same time
2 presenting safe and effective, and responsible pain
3 management and also promoting substance use
4 treatment and support services.

5 Through my process as that charge as a
6 chaplain to do no harm and to meet that need of
7 help for any person that I come before or comes
8 before me, we needed to make sure that we addressed
9 it in ways that we help them and not harm them. In
10 doing so, I have had a series of tipping points
11 through my process and journey regarding this, and
12 those tipping points started as a hospice chaplain
13 when the providers started to call me and say, "I
14 can no longer safely write a prescription to that
15 terminally ill patient in that home" because that
16 medication was being diverted in less than a 2-week
17 time frame every time that prescription was
18 written.

19 So I had a care issue of what do I do with
20 the patient? How do I help that? How do we change
21 that? So obviously, we had diversion issues and had
22 to work on making sure that we kept the patients

1 safe in their home with the right medication and
2 did not have it robbed, stolen, or not.

3 I also learned in a tipping point when we
4 started addressing it and bringing awareness, we
5 went from people who felt that, oh, I don't want to
6 be on that medication because I might become
7 addicted, to one patient that I met for the first
8 time, when he had been notified that he was
9 terminally ill and had less than 6 months, when he
10 said to me, "I'm afraid of that medication because
11 if people find out, I'll be robbed." That was
12 another tipping point.

13 Then another tipping point was when the
14 North Carolina medical board said yes to take home
15 naloxone to make sure that those who are at risk
16 have it, in any way, shape, or form, within their
17 home, within their person. Another one was when we
18 had that first rescue of the first time we
19 dispensed and distributed naloxone; that emotional
20 event when you get that call and find out a brother
21 saved a sister's life because of her adverse use of
22 prescription medications.

1 Then we realized another tipping point when
2 the Chronic Pain Initiative that was started within
3 our county at Wilkes County, North Carolina in the
4 Appalachian region for the state of North Carolina,
5 that we could safely and responsibly prescribe
6 reduce overdoses, reduce emergency department
7 events, but also make sure that the person who does
8 have pain can receive the care and the prescription
9 that they need and have it in a safe and
10 responsible manner.

11 But I have a new tipping point. As I
12 criss-cross the country and work with communities
13 to mobilize them, doing forums and workshops around
14 a whole comprehensive approach to addressing this
15 issue, I used to have, and always do still have,
16 patients, families, members of the community coming
17 to speak about their issue.

18 The new issue that I'm hearing is from
19 people with pain coming to my forums and saying, "I
20 can't get care. My doctor is denying me anymore
21 prescriptions and referring me to a provider and
22 another area, in another way, out of town, an hour

1 away." I have an entire community in Louisiana
2 that no longer has prescriptions for opioids unless
3 it's the emergency department. If you are in a
4 chronic pain situation, you are being sent an hour
5 away in order to receive that treatment.

6 We are causing harm if we don't find a way
7 to have the reason to prescribe and to treat
8 because all of the headlines and everything else in
9 the fear factor is giving prescribers a reason not
10 to treat. When we fail to treat, we call that
11 mistreatment. Abuse-deterrent formulations give
12 doctors the encouragement to be safe and
13 responsible with the prescribing that's necessary
14 to treat that individual.

15 So I encourage you. You are looking at the
16 science and the efficacy of that. I'm talking
17 about abuse-deterrent formulations overall, whether
18 it is nasal and/or intravenous aberrant protection.
19 And I encourage you to look at it from the
20 perspective of the person so that we do no harm and
21 we provide the treatment that's necessary. Thank
22 you.

1 DR. BATEMAN: Thank you. Will speaker
2 number 9 step up to the podium and introduce
3 yourself? Please state your name and any
4 organization that you're representing for the
5 record.

6 MR. MULLINEX: Thank you for the opportunity
7 to offer comments regarding this important topic.
8 My name is Steve Mullinex. I am senior vice
9 president of public policy and industry relations
10 at the National Council for Prescription Drug
11 Programs. I'm also a pharmacist by training with
12 lengthy professional practice experience in nearly
13 all facets of pharmacy, spanning community,
14 hospital, health system, pharmacy administration,
15 pharmaceutical manufacturing, and addiction
16 treatment to name a few.

17 My comments today are in support of
18 Mallinckrodt and its efforts in incorporating abuse
19 deterrent technology into its immediate-release
20 oxycodone product designated as MNK-812. And while
21 NCPDP defers to the manufacturing and product
22 evaluation expertise of Mallinckrodt and FDA,

1 respectively, we believe strongly in the
2 development and application of abuse-deterrent
3 technology as an important factor and a more
4 comprehensive effort to assure safe use of these
5 important medications, while at the same time
6 maintaining access for those with legitimate need.

7 As a means of providing additional
8 perspective, NCPDP is a not-for-profit, ANSI
9 accredited standards development organization
10 located in Scottsdale, Arizona. It's stated vision
11 and purpose are to lead the industry in creating
12 healthcare standards for the common good and to
13 standardize the exchange of healthcare information
14 to improve outcomes.

15 Membership is comprised of representatives
16 in all sectors of the healthcare industry.
17 Decisions are made using a consensus-building
18 approach with an obligation to be non-biased. For
19 the over 40 years of NCPDP's existence, it has been
20 using this very defined process in serving as a
21 problem-solving forum for the healthcare industry.

22 Results include various published solutions,

1 industry guidance, and maybe most significantly has
2 been the development of several interoperable
3 electronic communication standards used in
4 healthcare, many of which have been named in
5 various federal legislation and/or regulation.

6 Two of the most prominent examples are the
7 telecommunication standard. Telecom is a real-time
8 bidirectional communication standard that connects
9 the community pharmacy with the payer and other
10 entities. And SCRIPT, SCRIPT is the second example
11 authored by NCPDP and is the communication standard
12 in which all outpatient electronic prescribing is
13 based. This standard is also real time and
14 bidirectional and connects the prescriber to the
15 pharmacy. The advantages of utilizing electronic
16 prescribing are many and serve as a basis for why
17 many states either have or are strongly considering
18 mandating its use.

19 As I mentioned earlier, NCPDP has long
20 considered itself as a problem-solving forum, and
21 in the case of prescribed opioids, several of our
22 members came to us over 6 years ago and asked if we

1 would examine this issue in more detail. Our
2 response since has largely been focused on how
3 prescribers and pharmacists can best obtain the
4 information they need to support good clinical
5 decision-making.

6 The result was the creation of a white paper
7 that outlined the perceived challenges, existing
8 state monitoring programs, and propose solutions.
9 That document is now in its 4th edition. It is
10 entitled NCPDP Standards Based Facilitator Model
11 for PDMP: An Interoperable Solution for Patient
12 Safety. The document was just approved for
13 publication last week and will soon be available on
14 NCPDP's website at www.ncdpd.org.

15 In short, this solution utilizes existing
16 infrastructure and connects prescribers and
17 pharmacists to a national facilitator via two
18 real-time, bidirectional, HIPAA compliance
19 standards as a means of providing those entrusted
20 with the care of patients with complete timely and
21 accurate information on which to base their
22 clinical decisions.

1 While NCPDP believes strongly that utilizing
2 this approach will go far and helping to assure
3 safe use of these important medications, we
4 recognize also the complexity of the opioid misuse
5 and abuse issue and a need for a comprehensive
6 approach and a commitment from all stakeholders.

7 The abuse-deterrent technology suggested by
8 Mallinckrodt via MNK-812 is in our minds another
9 important piece to the puzzle of assuring safe and
10 effective use of these important and effective
11 medications, and NCPDP commends Mallinckrodt for
12 their efforts. Thank you again for your attention
13 and for allowing NCPDP to provide comments
14 regarding this important topic. Thank you.

15 DR. BATEMAN: Thank you. Will speaker
16 number 10 step up to the podium and introduce
17 yourself? Please state your name and any
18 organization you're representing for the record.

19 MR. ZOOK: Good afternoon, Mr. Chairman and
20 members of the committee. My name is Dave Zook,
21 and I am pleased to appear today in support of
22 providing patients and their healthcare providers

1 improved access to safer abuse-deterrent versions
2 of prescription opioids. I'm speaking today as
3 chair of Faegre Baker Daniels Consulting and our
4 health practice. For the record, our team has had
5 the opportunity to work with Mallinckrodt in over
6 80 organizations on the broader efforts of the
7 collaborative for effective prescription opioid
8 policies.

9 As the committee and the FDA consider the
10 merits of novel ADF medications, it's critical
11 these newer entities be shown to provide patients
12 with adequate pain relief while reducing, to the
13 greatest extent possible, the misuse of these
14 products. This review also should consider the
15 effects of marketed non-ADF opioids on our nation's
16 substance abuse epidemic as it relates to their
17 misuse, abuse, and diversion.

18 We've seen this approach work across other
19 categories with the replacement of older therapies
20 when innovation proves more effective or can be
21 dosed through an improved route of administration.
22 Effective ADF opioids present an equally compelling

1 opportunity.

2 At the same time, our policy work has
3 examined how to address the real complexities of
4 quantifying the non-therapeutic benefits of ADF
5 medications and what they can deliver to
6 individuals, health systems, and communities
7 struggling with the burdens of prescription and
8 illicit drug abuse. We do know, however, that the
9 trajectory of abuse, from oral to nasal or
10 intravenous, is a deadly pathway, and any measures
11 that can interrupt it should be pursued to their
12 fullest extent.

13 We also know that countless unused and
14 unwanted doses are and will be available to misuse,
15 abuse, or diversion in medicine cabinets across the
16 nation. Again, safer medications that are less
17 prone to tampering should play an important role in
18 impacting the broader complex epidemic.

19 From an economic standpoint, the rationale
20 for action is equally persuasive. Estimates around
21 the impact of the opioid epidemic to the U.S.
22 economy average well over \$100 billion per year

1 from the combined cost of treating substance-use
2 disorders, as well as the lost productivity and
3 workforce struggles. The impact on communities due
4 to our emergency care, hospitalizations, workplace
5 absence and distraction, recidivism, and many other
6 risks are clearly correlated with the rise of
7 opioid misuse, abuse, and diversion. We also must
8 acknowledge that appropriately treated pain,
9 sometimes through the use of prescription opioids,
10 can have a net positive impact in improving
11 productivity.

12 The data at the individual level are equally
13 compelling. The White House Council of Economic
14 Advisors [indiscernible] note the significant
15 impact that each overdose related death has on our
16 economy. With the average age of an opioid
17 overdose victim now 41 and in the prime of their
18 working years, the tragic impact per life is
19 upwards of \$10 million. We must find reliable ways
20 to factor improvements that ADF medications can
21 generate in curtailing these losses and their
22 devastating human impact into both the regulatory

1 and reimbursement processes.

2 There are bright spots in the otherwise
3 chilling statistics around prescription drug abuse.
4 Appropriate prescribing levels are improving.
5 Major policy changes have recently been enacted
6 around several core issues such as safe disposal,
7 and some advanced ADF medications are gaining
8 acceptance with payers who recognize their broader
9 value.

10 I believe this committee has the opportunity
11 to add one more important element to balanced
12 efforts to reduce abuse while appropriately
13 treating pain by recommending approval of MNK-812.
14 Once again, thank you for the opportunity to
15 provide this perspective.

16 DR. BATEMAN: Thank you. Will speaker
17 number 11 step up to the podium and introduce
18 yourself. Please state your name and any
19 organization you're representing for the record.

20 MR. LEWIS: Hello. My name is Joshua Lewis,
21 and I requested to speak here today as an addict
22 that is seeking long-term recovery from opiate

1 abuse. I am not paid by any drug company by
2 travel, but my travel expenses are being
3 reimbursed. I am here because part of my recovery
4 involves sharing my story.

5 I abused opiates. I snorted them I
6 injected them. I believe replacing opiates with
7 medications that try to prevent these types of
8 abuse is important and can benefit people like me.

9 I would like to start off with walking you
10 through the chaos of my addiction. I started using
11 opiates at the early age of 13. I remember my
12 mother being prescribed opiates for a broken leg,
13 which led her to her addictions as she began
14 breaking them down to snort them, which led me to
15 doing the same.

16 I recall the younger version of me stealing
17 them from my mother out of the household medicine
18 cabinet. I truly believe that if the doctors would
19 have prescribed an abuse-deterrent version of
20 opiates, then we would have never gone down this
21 deep, dark path of addiction.

22 My addictions have led me to snorting and

1 breaking down several different types of drugs into
2 IV use. I was continuously chasing that temporary
3 high. This disease eventually led to overdoses and
4 deaths of many loved ones in my life, including my
5 mother.

6 I have caused a lot of pain in the 19-year
7 relationship with my significant other and with my
8 17-year-old son, leaving them both with depression
9 and fears that I am going to overdose on a relapse
10 the rest of our lives, just like the rest of our
11 family and friends. Even after 16 months clean
12 from all substance, I still struggle with scores
13 and images that cloud my mind, reminding me of the
14 shame and the guilt I have from being in active
15 addiction.

16 Addiction truly is like going to war.
17 You'll never come back and be the same. Some don't
18 even make it back at all. I have had to start
19 completely over in life with little education, no
20 computer skills, and a criminal background that has
21 prevented me from providing for my family and
22 living a normal life. I've been in and out of

1 jails and institutions along with 12 attempts in
2 rehab before finally getting to an area of active
3 recovery in my life.

4 Standing here today sharing my testimony is
5 rewarding because I know that each time that I
6 share, I am healing on the inside. I can't undo or
7 change my mistakes, and I can't bring my loved ones
8 back from all of the grief. But I can speak up and
9 not allow their memories to be lost in vain of this
10 tragic disease.

11 With this being said, it is time that we
12 take a different approach and have a different view
13 so that millions more don't fall victim to this
14 same pathway and into this disease of addiction.
15 Thank you.

16 **Clarifying Questions (continued)**

17 DR. BATEMAN: Thank you.

18 The open public hearing portion of this
19 meeting is now concluded, and we'll no longer take
20 comments from the audience. The committee will now
21 turn its attention to address the task at hand, the
22 careful consideration of the data before the

1 committee as well as the public comments. But
2 before we do that, the sponsor has a couple of
3 answers for questions that were raised this
4 morning.

5 DR. SCHLICHER: I'll quickly follow up on a
6 few questions from this morning. The first was the
7 question on whether we were compliant without any
8 outstanding regulatory requirements, and I just
9 wanted to make sure that I was accurate. So I
10 checked with our specialty generics regulatory
11 team, and we are compliant with all outstanding
12 postmarket requirements.

13 I was a little surprised by Dr. Hertz's
14 comment about the compliance or interest in
15 postmarketing for the abuse-deterrent formulations
16 and for us that's something we are very interested
17 in really quickly pursuing. We're working with
18 Dr. Dart and outlined to you this morning some of
19 the things that we are proposing doing right away,
20 because I think for all of us, we really need to
21 know if these ADFs are working on not and which HAP
22 study endpoints are meaningful to guide all of us

1 our work. So we are committed to being very quick
2 in putting in place the appropriate studies that
3 can help us in that postmarketing effort.

4 The second question that came up was around
5 the adverse events around the HAP study, and I
6 apologize for my confusion on that respiratory
7 category. So I'm going to ask Dr. Webster to get
8 up and share the table that was in the FDA briefing
9 book and help provide some clarity there.

10 DR. WEBSTER: Dr. Lynn Webster, vice
11 president of scientific affairs at PRA Health
12 Sciences. Here we go. Can I put that up? This is
13 what, Dr. Green, I think you were referring to.
14 Yes.

15 Well, what happened is we had to code all of
16 those adverse events that the subjects were
17 experiencing during the snorting event: cough,
18 nasal discomfort, nasal congestion. And they're
19 coded under respiratory. There are really no
20 pulmonary symptoms or signs. I even went back and
21 took a look, and we saw no desaturations, mild
22 respiratory rate decreases, but nothing outside of

1 the normal range.

2 DR. SCHLICHER: And I would just ask
3 Dr. Webster to remain at the podium to try to clear
4 up one last confusion; I think it's really
5 important to note. As I mentioned, we are
6 replacing a product with no abuse-deterrent
7 features with one that has significant
8 abuse-deterrent features, intravenously and
9 intranasally, where people would rather take
10 placebo than to take our drug again.

11 We need to be able to demonstrate value of
12 this product. We're taking another product off the
13 market. And that means it absolutely has to be
14 safe. So we were very careful in the development
15 of this formulation, and we're sensitive to
16 concerns that were arising around PEO.

17 So to be very, very clear, we have
18 absolutely none of the high molecular weight PEO
19 that was present in the Opana product that was
20 taken off of the market at a molecular weight of
21 7 million. The much more comparable product to
22 compare us to is OxyContin, which has been on the

1 market since 2010 and has a molecular weight PEO of
2 4 million. We also have an amount of that high
3 molecular weight at very substantially reduced
4 amounts than either of the Opana product or the
5 OxyContin product, less than 2 percent of our
6 formulation while greater than 60 or 65 percent in
7 either of the other products.

8 I'll now ask Dr. Webster to comment on why
9 we wouldn't expect the same kind of treatment as
10 Opana; same kind of uses as Opana.

11 DR. WEBSTER: So if I can draw your
12 attention to the APIs up here, you've got oxycodone
13 and then oxymorphone. These are not comparable.
14 So you've got the oral bioavailability of 85
15 percent for oxycodone, but only 10 to 15 percent
16 oral viability. That means that very little of
17 that drug is going to be effective. But if you
18 then dilute into a syringe and inject it, you get
19 100 percent of that only 10 to 15 percent
20 viability. That means it's 10 to 20 times more
21 potent than the oxycodone.

22 So that's why you can take a syringe of

1 30 ccs and draw out 1 cc and get enough to be
2 rewarding with oxymorphone that would be comparable
3 to 1 or 2 milligrams of an oxycodone, which for
4 most people who are injecting is not rewarding.

5 DR. BATEMAN: Okay. Thank you.

6 We're now going to move on to Dr. Hertz
7 providing us with the charge to the committee.

8 **Charge to the Committee - Sharon Hertz**

9 DR. HERTZ: We have to be careful as we, as
10 an agency and as a society, seek to address serious
11 problems with prescription opioid analgesic abuse
12 that we don't end up denying patients adequate pain
13 management. I think we all agree that the goal
14 here is not to do that.

15 We do need to work to change the type of
16 products that are available and the way currently
17 available products are used. In particular, we
18 need to work to change the way opioids are used in
19 the management of pain so that they're not used
20 when not necessary and when opioid analgesics are
21 not appropriate

22 When they are used in an appropriate

1 context, we need to ensure or work toward these
2 products being used in the context of a fully
3 informed prescriber and a fully informed patient.
4 Efforts to assist the medical community to
5 prescribe opioids appropriately and safely are a
6 top priority of this agency, and the different ways
7 we're approaching that have been discussed in many
8 settings and are also present on our website. We
9 support the development of products that may deter
10 abuse.

11 Today, you've heard the applicant's and the
12 agency's presentations of the data from the
13 assessment of the abuse-deterrent properties of
14 this immediate-release formulation of oxycodone
15 with the intent of deterring nasal and IV routes.
16 We agree with some but not all of the applicant's
17 conclusions.

18 In particular, we are worried about
19 unintended consequences that can be associated with
20 well meaning products such as this, and we'd now
21 like to hear what you have to think about these
22 data and whether they support labeling to describe

1 that the properties of this product can be expected
2 to deter abuse by the nasal and IV routes, as well
3 as the impact of this product on the public health.
4 And then finally, if it should be approved.

5 Your advice and recommendations really will
6 be essential in assisting us with addressing the
7 questions we have about this product and in how we
8 look at it in the broader context of public health.
9 Thank you for your time and attention to this
10 today.

11 **Questions to the Committee and Discussion**

12 DR. BATEMAN: We will now proceed with the
13 questions to the committee and panel discussions.
14 I'd like to remind public observers that while this
15 meeting is open for public observation, public
16 attendees may not participate except at the
17 specific request of the panel.

18 We're going to start with question 1, and
19 we'll take this in part. So we'll start off with
20 discussing the nasal route and then the intravenous
21 route. The discussion question is, please discuss
22 whether there are sufficient data to support a

1 finding that oxycodone hydrochloride
2 immediate-release tablets, MNK-812, has properties
3 that can be expected to deter abuse, commenting on
4 the support for abuse-deterrent effects for each of
5 the following routes of abuse. We'll start with
6 nasal and then intravenous.

7 Are there any questions regarding the
8 discussion question, any clarifications that are
9 needed?

10 (No response.)

11 DR. BATEMAN: So if there are no questions
12 or comments concerning the wording of the question,
13 we will now open the question to discussion.
14 Dr. Goudra?

15 DR. GOUDRA: Dr. Goudra from Penn Medicine.
16 The question or the discussion point is whether the
17 new drug MNK-812 has properties that can be
18 expected to deter abuse. I think my gut feeling is
19 yes because we're not asked to address as to how
20 much or the degree of deterrence it causes. If it
21 is yes or no, maybe it does better in terms of
22 intravenous than nasal. Yes, I think the answer

1 has to be yes.

2 DR. BATEMAN: Dr. Meisel?

3 DR. MEISEL: Steve Meisel. I have a really
4 hard time with the question. I understand the
5 question, but I have a hard time answering the
6 question because we don't have a frame of reference
7 or definition of sufficient data. "Expected to
8 deter" those are terms that are very subjective,
9 and I think we implicitly get that.

10 I've been on several of these committee
11 meetings over the last year or two where we've been
12 evaluating some of these products, and it seems
13 like the frame of reference is always a little bit
14 different with every discussion. The dynamics of
15 the committee are a little different. The data are
16 a little different. The questions that are posed a
17 little different.

18 If we were to go back to OxyContin and we
19 had the same conversations today, we probably
20 wouldn't approve it because we think about it
21 differently. But the fact that OxyContin has been
22 available for 7 or 8 years, and we still don't have

1 any data to know whether it does any good
2 whatsoever, I think it's impossible to answer the
3 question as to whether we would expect this product
4 to do any good in terms of deterring abuse, whether
5 it's nasal or IV.

6 I think there are some intriguing data
7 points that the applicant has submitted, and you'd
8 like to think it might. But without any data to
9 know whether that's just feel-good supposition or
10 reality, we just don't know. And without the
11 guidance from the agency on what the term "expected
12 to deter abuse" means, sufficient data is supposed
13 to mean, I think we run the risk of saying yes to
14 product A and no to product B, but product B may be
15 better than product A.

16 DR. BATEMAN: Dr. Fischer?

17 DR. FISCHER: Thanks. Mike Fischer, Boston.
18 I'll try to maybe outline some thoughts on this
19 question, and hopefully frame it to get some
20 additional feedback from other members of the panel
21 to get it documented. I was concerned about what
22 exactly we mean here. I'm trying to unpack

1 the -- when we're talking about sufficient data for
2 the expectation to deter abuse, what we really saw
3 in the data presented today was evidence from the
4 study with a relatively limited number of patients
5 who were recreational users of opioids.

6 In that setting, we certainly saw the
7 unpleasant effects and the idea that their
8 willingness to use it again was similar to placebo,
9 and to me, when I think about how does that apply
10 for deterring abuse longer term, that might apply
11 to the sort of patient who has perhaps used an oral
12 formulation of an opioid and perhaps is using the
13 medication nasally for the first time. We're
14 focusing just on nasal here of course.

15 We didn't have information on the patients
16 who already have physical dependence on opioids.
17 There was the naloxone screening and so on. So to
18 me, where I struggle with whether there is
19 sufficient data for deterring the ongoing abuse and
20 the ongoing potentially harmful use of opioids by
21 unintended roots, by patients who already have
22 become dependent is, what happens when a patient

1 with dependence uses this medication nasally?

2 There's certainly an initial unpleasant
3 nasal sensation, but the data that we have doesn't
4 apply to patients who, for example, are essentially
5 treating their withdrawal symptoms when they use.
6 And I would think that has the potential to really
7 shift where someone would rate their likelihood to
8 use again, and we just don't have information on
9 that.

10 So I'm left feeling like we have a fairly
11 narrow window in terms of this deter abuse
12 question. As Dr. Goudra said, yes, in the study it
13 was done. For somebody who the very first time is
14 exposed to this, it's unpleasant, and they said
15 they wouldn't want to use again. But that's a very
16 specific niche of the patients who might take an
17 opiate medication like this and use it other than
18 how it's intended. For the larger population of
19 patients, I'm not sure we have the data we would
20 really want to answer that question.

21 That's what I'm grappling with and would
22 love to hear insights of how others in the

1 committee interpreted the data.

2 DR. BATEMAN: Does anyone want to respond to
3 Dr. Fischer's comments about the interpretation of
4 the intranasal abuse studies on the population that
5 was studied? Mr. O'Brien?

6 MR. O'BRIEN: I share Dr. Fletcher's [sic]
7 concern about the narrowness and what we're being
8 asked to do, but it's always difficult with these
9 because the question was did the sponsor provide
10 the data? Well, as I look at all the background
11 material and as I read the material, they provided
12 the data that it seems they're required to provide.
13 And in fact for nasal, it looked like they did in
14 fact -- I agree; 38 recreational abusers, I don't
15 know where that comes from.

16 However, as I read their material and read
17 the requirements from the FDA, that's what's
18 required. You have to provide that type of
19 material. It has to be recreational users. It has
20 to be screened with naloxone, et cetera, et cetera.
21 That's what it says to do, if I understand it
22 correctly, to do it.

1 In terms of interpreting the question, it
2 seems to me, yes, they provided the data that was
3 necessary for them to provide. The FDA agreed that
4 there was abuse deterrence for nasal. The question
5 became the timeframe and that it only lasted for an
6 hour. So the question is, is that enough? And
7 there is no definition. It said it had to be
8 2 hours, or 4 hours, or 24 hours. So we're left
9 with this quandary that's there.

10 That being said, my concern really is from a
11 patient care perspective, and I do think that the
12 nasal portion of interference is extremely
13 important to interrupt that next trail that
14 someone's going to take from an oral process to a
15 nasal and diversion. And I'm not concerned for
16 myself, but I am concerned for whoever in my family
17 may get a hold of the drug, or if I'm in a hotel
18 room and my drug's in my underwear draw, whether
19 that clerk gets a hold of that. That being the
20 case, I have great concern in that area.

21 I didn't get to ask the question before
22 lunch of Dr. Nadel. When I looked at the

1 conclusions that were there and in reading the
2 background material, I just want to know the basic.
3 Do we currently have an opioid IR SE on the market
4 that is abuse deterrent? I understand we have one
5 that was approved by the FDA, but that it is not
6 marketed in the United States.

7 Is that correct?

8 DR. MEISEL: RoxyBond is on the market.

9 MR. O'BRIEN: But RoxyBond is not marketed
10 the United States. It's only in the --

11 DR. MEISEL: No, no. It's available.

12 DR. HERTZ: No. It's approved -- at the
13 time the data were collected, it hadn't reached the
14 market yet.

15 MR. O'BRIEN: Oh. My background material
16 says it was not marketed in the United States.

17 DR. HERTZ: Yet, as of October.

18 DR. STAFF: Right. This is Judy Staffa. As
19 of October 17th of this year, which is when we
20 looked at dispensed prescriptions, there were none
21 for RoxyBond.

22 MR. O'BRIEN: Okay. As of October 17th

1 still. It's still not in the United States.

2 DR. STAFFA: Correct.

3 MR. O'BRIEN: So as we know, we currently do
4 not have a similar type product in the United
5 States for patients. Is that correct?

6 DR. HERTZ: Yes, in this context.

7 MR. O'BRIEN: All right. Thank you.

8 DR. BATEMAN: Additional comments on the
9 interpretation of the human abuse studies via the
10 intranasal route. Dr. Zibbell?

11 DR. ZIBBELL: John Zibbell, RTI, Emory.
12 This is complicated stuff. I'm going to try to put
13 my thoughts out here. In my career, I actually do
14 research among people who misuse drugs and abuse
15 drugs, mostly opioids and illicit medications, but
16 also prescription medications. And I've spent the
17 most of my career hanging out with social networks
18 of people using drugs. I feel like I have a pretty
19 good grasp of the motivations and the behavioral
20 aspects of the dynamics of misuse and abuse.

21 I'm coming at this from a public health
22 point of view and not a clinical point of view, and

1 I kind of want to put some terms out. In the
2 public health world, we often think about primary
3 prevention versus secondary prevention. And if you
4 think about that with addiction, primary prevention
5 would be stopping someone from starting in the
6 first place. You don't want them to start; that's
7 the primary prevention.

8 Secondary prevention is if they're already
9 started, and they're already dependent, and they're
10 already addicted, how do you prevent all the other
11 stuff to kind of come downstream, all the harms,
12 infectious disease, overdose, stuff like that?

13 So it seems to me that the majority of
14 people's initiation to opioids are oral, and I
15 think the data is pretty clear on that. This
16 medication wouldn't prevent that at all. It has
17 nothing to do with primary prevention, so for me,
18 the question is secondary prevention. What are
19 people who are already dependent on these drugs,
20 getting these drugs and misusing them?

21 I was part of the Scott County HIV
22 investigation, and a 100 percent of those people

1 using were all physically dependent and were using
2 for a long time. I didn't meet any recreational
3 users that were just using, so that's the
4 population.

5 The other part of my research is looking at
6 transitions from oral use, to insufflation, to
7 injection. And what we find is that people take
8 oral medications, and then they become tolerant.
9 And that's what opioids do; they create a
10 tolerance, and then you don't get the same feeling
11 that you do with repetitive use. So you kind of
12 have to take more and more to get the same effect,
13 and there's no ceiling there. That's why opioids
14 are so dangerous.

15 So what happens is people orally take these
16 medications, and then they have to get a tolerance,
17 and they get a physical dependence. So there's an
18 incentive to switch a route of administration.
19 It's not that I just want to switch; it's that I
20 have an incentive. I'm going to get 40 percent
21 bioavailability from oral use, and I'm going to get
22 80 percent from snorting, so I'm going to snort

1 them.

2 By this point, I'm usually physically
3 dependent. So echoing Dr. Fischer, I'm already
4 dependent, so I have an incentive to transition.
5 And then I'm going to be insufflating for a while,
6 and I got the tolerance there as well. And then
7 some people will transition to injection because
8 you're going to get a hundred percent of the drug
9 now and there's incentive to do that.

10 So my concern here is that the population
11 that I know, in a large population of people that
12 are going to misuse and abuse this population, if
13 they're physically dependent, I do not think that
14 irritation of the nasal is going to prevent that
15 use. That's my concern. I think in recreational
16 users, if you feel bad, and you don't have a habit,
17 and you just use for fun, and it's not a big deal,
18 then you can be like, "Oh, that feels bad." But if
19 you're physically dependent, if you're addicted to
20 opioids, you are going to use over a nasal
21 irritant. And the best evidence for that is the
22 strong literature that shows people using in the

1 face of harm.

2 We know that people use drugs with
3 abscesses. They'll let abscesses on their arms
4 until their arm's falling off, and they're still
5 going to use because you're addicted, you're in
6 withdrawal, et cetera. So the drive to use and
7 insufflate and inject is really great. So my
8 concern is that the majority of people that are
9 going to misuse are a large population we have in
10 the United States who are physically dependent on
11 opioids, and the nasal is not going to prevent that
12 transition.

13 I just wanted to kind of put a public health
14 thing on that and address your concern.

15 DR. BATEMAN: Okay. Other comments?
16 Ms. Robotti?

17 MS. ROBOTTI: Hi. Suzanne Robotti. It was
18 concerning to me that the data presented by the
19 applicant, or the sponsor, and the FDA came to
20 such, to me, startling different conclusions. I
21 noted it in the background information, but it was
22 even more clear today. There are a lot of reasons

1 to argue about the nasal, that I would say that
2 it's not abuse deterrent for either nasal or
3 intravenous.

4 But what I'd really like to say is that
5 given that there is no abuse deterrent in this drug
6 when abused by the oral route, and that the oral
7 route is by far the most likely route for
8 accidental misuse and/or entry level recreational
9 use leading to addiction, I'm not impressed that it
10 may or may not abuse with the nasal or intravenous
11 route.

12 Addiction starts at the oral routes. And
13 until it's managed by some means, we're not getting
14 anywhere. We need to manage it by adding an
15 aversive agent that accumulates if you take too
16 many pills: limitation of availability; addressing
17 mis-prescribing; shared dispensing records; Smart
18 Caps. There are ideas out there, and those are
19 abuse deterrent, and they are never presented.

20 This is my seventh meeting on abuse
21 deterrent, and never have I heard anything
22 significant about stopping abuse at the oral level.

1 So that's why I think. There you go.

2 One more thing. Sorry. The testing I
3 thought was very thin and unconvincing, a cohort of
4 32, a cohort or a 12. I don't care if the FDA has
5 set the bar too low, we don't have to accept that.
6 So I don't mean to get overly passionate here, but
7 I think that we've been accepting research that
8 just isn't stringent enough. And if we don't speak
9 up and say that we need better, better information,
10 higher bars, new ideas -- all through the FDA
11 guidelines, it says people are not to be held to
12 the standard that approved the last drug. They're
13 to be making progress in ADF formulations, so let's
14 see some progress.

15 DR. BATEMAN: Thank you. I think several
16 people on the committee have raised the question of
17 whether the abuse liability study is relevant to
18 opioid-dependent patients, maybe the population
19 that's most likely to insufflate. But they did
20 show data that suggests that take drug again and
21 overall drug liking was significantly reduced
22 amongst recreational users.

1 So maybe people can comment on the relevance
2 of that. Is that a target population that's
3 relevant to this question of abuse deterrence?
4 Dr. Shoben?

5 DR. SHOBN: Thanks. So that is actually
6 quite timely since that's what I was hoping you
7 talked about before. A couple comments; one is
8 like, yes, we need better products, and, yes, like
9 I think everyone wants to reduce the -- stating the
10 obvious, but everyone wants to reduce this risk of
11 opioid addiction and the consequences of opioid
12 addiction, but I think that we need to step back
13 and think about these incremental improvements and
14 can this be, at least potentially -- I think the
15 wording is actually quite relevant to say, can it
16 be expected to deter abuse; is there sufficient
17 data to go forward without doing the kinds of large
18 really postmarketing type studies that would allow
19 us to determine that more definitively.

20 The abuse potential studies I think actually
21 are quite relevant, particularly for nasal since
22 this is potentially a population that would benefit

1 from the kind of abuse-deterrent formulations. So
2 these who use them for fun, and maybe they heard
3 that using a nasal, the insufflation would be more
4 exciting and better and produce a better high. And
5 if that is going to deter them, as we saw with the
6 take drug again and the overall drug liking, then
7 that would perhaps meaningfully deter abuse in that
8 small population.

9 I certainly agree that people who are
10 already opioid dependent, probably not that
11 relevant; a half an hour of nasal irritation is
12 going to deter them, but that's not necessarily the
13 population that we should actually be most focused
14 on in terms of at least initial deterring abuse.

15 DR. BATEMAN: Dr. Arfken?

16 DR. ARFKEN: I am concerned about the public
17 health aspects of it. I agree about the human
18 abuse potential and using recreational. I've seen
19 it done multiple times. It's the first step in
20 assessing it. My concern is the FDA finding that
21 it would be possible to inject it, and I am very
22 nervous about supporting any application --

1 DR. BATEMAN: We're going to talk about
2 intravenous in the next phase, but additional
3 comments on the nasal route of abuse.

4 DR. ZIBBELL: I've got one more. Sorry.

5 DR. BATEMAN: Sure, go ahead.

6 DR. ZIBBELL: I think there's someone down
7 there what was before me. John Zibbell, RTI,
8 Emory. I was kind of struck that we have a group
9 of recreational drug users, but no one was like,
10 "Oh my gosh, I would never do this again." So when
11 I look at the data, it seems like, yeah, it's an
12 irritant, and people are like, "Um," they were kind
13 of neutral on it.

14 So it seems to me that the irritant is a
15 weak abuse-deterrent choice, and I think maybe it
16 should be re-thought to be -- and this is beyond
17 the scope of the panel probably, but to be an
18 agonist/antagonist model or thinking of another
19 form. Because like I said, if people that are
20 recreational users are neutral -- and it was that
21 first 25 minutes, which makes sense -- it doesn't
22 feel bad -- but then you get the same kind of high,

1 seems to me that's not really going to deter; maybe
2 that small group that Dr. Shoben talks about, but
3 I'm thinking of the large public health effects,
4 and that's a small group.

5 So I just think it's weak as an abuse
6 deterrent, and I think the data kind of shows that,
7 in my opinion.

8 DR. BATEMAN: Dr. Higgins?

9 DR. HIGGINS: Jennifer Higgins. I agree
10 with Dr. Goudra. I find the data convincing, and I
11 feel like it is demonstrated to be just too
12 difficult to physically and chemically abuse. I
13 appreciate the 1-hour delay. I can't speak to what
14 Dr. Seibel has -- with respect to his knowledge of
15 abusers and whether that would be a deterrent, but
16 to my mind, it seems very daunting to work really
17 hard for at least an hour to achieve some sort of
18 result. So I believe it's likely to deter, and I
19 find that data convincing and sufficient.

20 DR. BATEMAN: Dr. Hernandez-Diaz?

21 DR. HERNANDEZ-DIAZ: The way I was thinking
22 about this question is that it would probably deter

1 abuse for this particular product. And I think
2 because of the short-term effects, probably it
3 could deter from abuse of this particular product.
4 But I think we are trying to now answer a different
5 question; is this going to deter abuse for opioids
6 overall, and is it going to reverse the opioid
7 epidemic or help to do that? And that I think is
8 speculation on our side because we don't have the
9 data.

10 So that's where I'm struggling answering the
11 question. I think there is data to support that
12 because of that initial adverse event is going to
13 deter abuse for this particular, for the nasal
14 route. Is it going to deter abuse from opioids
15 overall, from erring? Probably, we don't know.

16 DR. ZIBBELL: I'm going above protocol, but
17 I wasn't arguing that. I was arguing this product.
18 Yeah, I don't think it will. I think it's a weak
19 nasal.

20 DR. BATEMAN: Dr. McCann?

21 DR. MCCANN: I think what I got from the
22 data is that if you really want to get high

1 quickly, you should just chew a couple of tablets.
2 And that if you contrast that with taking it
3 nasally, from my review of the data, I'd much
4 rather just chew a couple of tablets.

5 So to me, it really is a deterrent because
6 it's unpleasant, and I would go with Abby, that a
7 dependent person, whether you're dependent on
8 alcohol or whatever, if they can't get ethyl
9 alcohol, they'll go to isopropyl or methyl alcohol.
10 I mean, they have to get their drug no matter how
11 many hoops or frustrations you put in front of
12 them. So I do think that the formulation, both
13 nasally -- and we're jumping to intravenous, but
14 that they really have shown that this would be a
15 deterrent.

16 DR. BATEMAN: Dr. Shoben?

17 DR. SHOBEN: Just a quick note of about how
18 weak is this nasal irritant. If you look in the
19 labeling -- actually it was included in the FDA
20 briefing document -- we've actually approved -- not
21 to say this should be the standard, but certainly
22 there have been approvals for drugs on abuse

1 deterrent by the nasal route with sufficiently
2 higher drug liking and take drug again compared to
3 placebo.

4 So the fact that this was numerically less
5 and certainly statistically much more similar to
6 the placebo gives me actually confidence this is
7 probably a stronger nasal deterrent than some of
8 the ones that have been previously approved.

9 DR. BATEMAN: Dr. Green?

10 DR. GREEN: I have concerns about repeated
11 use by nasal route of the medication with aversive
12 agents; that we lack data from RoxyBond in humans
13 in this country with information about that. And
14 the current Roxicodone product does not have this
15 addition in it, so we lack the ability to know on a
16 population level what that would do to the health
17 consequences of people who are using by
18 insufflation. So I think that's a concern.

19 But I think also looking at the data
20 presented today, that I do think that with the
21 folks who were using primarily by oral routes,
22 they'll continue to chew or to swallow, to crush,

1 and to take orally, and they'll just hover there.
2 But the folks who are currently snorting with a
3 push towards -- they won't wait the half hour.
4 They'll move more to the solubility and
5 syringeability data that we saw, very strongly
6 suggesting that injection of this medication is
7 viable.

8 So if you can get through that first 15 to
9 30 minutes, the reinforcing effects of Roxicodone,
10 which is incredibly pleasurable, will be placed
11 into the brain and propel continued use towards
12 dependence. So this is a concern, especially with
13 the aversive agents not knowing on a population
14 level what would happen to -- and given the lack of
15 negative attributes associated with the overall
16 effect of this drug, that the calculus is leaning
17 towards concern about the nasal route for me.

18 DR. BATEMAN: Dr. Prisinzano? Is that
19 right? How did I do?

20 DR. PRISINZANO: Now you know the other
21 reason I didn't put my thing up faster in terms of
22 the last name.

1 (Laughter.)

2 DR. PRISINZANO: So it's Prisinzano, like
3 the place you don't want to go.

4 (Laughter.)

5 DR. PRISINZANO: I guess at least in terms
6 of my thinking about this particular aspect, I'll
7 use an analogy. I think we would all argue that a
8 home run is more fun than a single in this
9 particular case, and I don't think we necessarily
10 have to have a home run the first time out of the
11 gate or a single would be acceptable. And I think
12 from the data that's presented at this particular
13 point, it provides an advance from the
14 abuse-deterrent possibilities for some population,
15 which is better than what we have now.

16 DR. BATEMAN: Other comments?

17 (No response.)

18 DR. BATEMAN: Okay. So I'll summarize our
19 discussion briefly on this point. I think several
20 on the committee thought that there was an
21 expectation that MNK-812 12 does have some
22 properties expected to deter abuse via the nasal

1 route based on the human abuse studies that were
2 performed, particularly the data regarding take
3 drug again, the delayed time to Emax, and nasal
4 irritant effects that were reported by users.

5 There were some concerns voiced that people
6 who are highly dependent on opioids or that are
7 seasoned abusers may be willing to tolerate these
8 aversive effects and continue to use it via the
9 nasal route. But several on the committee voiced
10 the opinion that although this is an incremental
11 improvement, it's not a home run and it's not going
12 to eliminate a nasal abuse, it was a step in the
13 right direction.

14 Is that a fair enough summary? Any comments
15 to add?

16 (No response.)

17 DR. BATEMAN: Okay. So we'll move on now
18 and talk about the intravenous route. Comments
19 regarding whether MNK-812 is expected to deter
20 abuse via the intravenous route. Mr. O'Brien?

21 MR. O'BRIEN: Well, it seems clear to me,
22 with that evidence that the FDA gave, which I still

1 don't know, it's kind of secretive, but they have
2 brought something and done something, that it says
3 they clearly can get 90 percent out. So to my
4 mind, no, it doesn't deter intravenous if that's
5 the case.

6 I haven't heard anything from the sponsor
7 refuting that, so I have to go on that. And based
8 on that tells me -- I see the other data that tells
9 me with an hour's work, yes, it can be done, but
10 it's going to take an hour, but they seem to have
11 something that's relatively quicker and easier.

12 DR. BATEMAN: I think the data the FDA
13 presented suggested that it was about an hour long
14 process to thermally treat the medication, dissolve
15 it solvents; several stops in order to extract 60
16 to 80 percent; whereas Roxicodone could be
17 extracted in 10 to 15 minutes. So there was a
18 difference there. Maybe people can comment on
19 those data.

20 MR. O'BRIEN: Just to that end, I would
21 switch it, both nasal and intravenous. There is
22 clearly evidence that Roxicodone is not better than

1 what's being presented; so if I reverse the
2 question.

3 DR. BATEMAN: Okay. Dr. Meisel?

4 DR. MEISEL: Steve Meisel. I'm going to
5 agree that the data on intravenous deterrence is
6 weak at best. And if somebody is intending to do
7 this -- the FDA was able to do this within an hour
8 in 30 mLs -- it sounds relatively easy -- I can
9 guarantee you within 3 months there will be
10 websites with techniques that are a lot more
11 efficient than that. If it's somebody's intent to
12 do, they're going to do it. If you're the 16 year
13 old stealing mom's oxycodone and trying to do it at
14 home for the first time, is it going to be harder?
15 Yeah, but they'll figure it out. I think the
16 deterrence here is going to be temporal and not in
17 terms of magnitude.

18 DR. BATEMAN: Dr. Zibbell?

19 DR. ZIBBELL: John Zibbell, RTI, Emory
20 University. Yes, FDA does say 15 minutes for
21 Roxicodone, but I've seen someone do it in
22 2 minutes, and it's actually pretty easy to do, so

1 an hour might be questionable. But I agree. I
2 think the intravenous data is weak. And when you
3 combine the two -- and maybe I have a little PTSD
4 from Scott County, Indiana, but when you combine
5 the two, it kind of sets up jumping the nasal route
6 and going right to intravenous, like we did see
7 with Opana ER.

8 So if it is an irritant and you're already
9 down that kind of physical dependency abuse
10 teleology, then I could see the intravenous risk
11 being heightened because of that, and with that,
12 the public health effects of all the
13 injection-related harms. So I think when you take
14 the weak intravenous data and you combine it with
15 what I believe is a weak deterrent for nasal, even
16 so, if we take people's argument that it is as
17 strong, then there's an incentive for me to jump to
18 intravenous because you're able to at least do it.
19 It might take a little time, but there's de facto
20 chemists out there on the street that can do it
21 pretty quickly, according to Dr. Meisel. Those are
22 my comments.

1 DR. BATEMAN: I think it's pretty clear that
2 it's harder to extract the drug and turn it into a
3 syringeable form compared to Roxicodone. I guess
4 the question is how much harder does it need to be
5 to truly be an abuse deterrent? So maybe that's
6 something we can comment on. Where does that bar
7 need to be set? Dr. Fischer?

8 DR. FISCHER: I think if we think forward on
9 the consequences, I think your point's well taken.
10 But at least if I understand the plans that are
11 being put forward, that's actually not a choice
12 that patients in real life will face. If we
13 approve this, it will replace the existing
14 Roxicodone. So if it is extractable in a
15 reasonably straightforward way, and once it's way
16 out there, it will be on the internet. And if it's
17 something you can do with a tool, you can get at
18 Walmart in solvents that are relatively easy, and
19 it will be out there.

20 I completely agree that compared to
21 Roxicodone, this is harder to do, but this would
22 presumably -- and I know there are other generic

1 immediate-release, single-agent Roxicodone out
2 there. But this would replace it.

3 So I think your point is accurate, but I
4 don't know whether that's the right question in
5 terms of whether this deters abuse well enough
6 given how quickly information spreads about those
7 kind of things. I think the direct answer to your
8 question is, yes, it's harder, but is that the
9 comparison that an individual sitting out in the
10 community who has acquired some MNK-812 and is
11 trying to figure out how they might use it, that's
12 probably not the minute-to-minute decision they're
13 facing.

14 DR. BATEMAN: Dr. Marshall?

15 DR. MARSHALL: Yes. Dr. Bateman, Brandon
16 Marshall, Brown School of Public Health. I think
17 the answer to that question depends on the context
18 of what else is available in the drug market in
19 that environment. If you're looking at a situation
20 where heroin and synthetic opioids are freely
21 available and can be cooked up and injected quite
22 quickly, people may not choose to inject this

1 because it does take an hour to do so, or half an
2 hour.

3 But if you're in a situation in a rural
4 county with poor availability of heroin or other
5 illicit drugs, I feel like the abuse adherence of
6 this formulation is a lot weaker, and people may
7 take these steps to get through that and do so.

8 DR. BATEMAN: Dr. Zeltzer?

9 DR. ZELTZER? Sorry. If I whisper, can you
10 hear me? One of the concerns I heard was that in
11 the 30-milligram dose, that even though it took an
12 hour for breaking it down for IV use, that there
13 would be a public health concern because it would
14 group people together to share a dose. Some might
15 spread HIV or hep C, et cetera. In fact, from
16 other drugs, are there data to support that fear?

17 DR. BATEMAN: Does someone from the FDA want
18 to comment on that? I guess the question is, does
19 the fact that large volumes are required to easily
20 extract the medication raised the concern that it's
21 going to be something that's shared between
22 intravenous drug users, and are there data to

1 suggest that pattern for other medications?

2 DR. HERTZ: This is Sharon Hertz. The Opana
3 ER experience has given us pause when we see a
4 product that may have nasal deterrent effects but
5 may be suitable for injection even with some
6 effort. And then if it takes either a greater
7 volume -- well, if it takes a greater volume to get
8 the product into the right form, yes -- and that's
9 what we saw with Opana -- then there may be sharing
10 behaviors that then lead to outbreaks of infection.

11 Everyone wants these absolute standards, and
12 that's very hard for us to do. We don't know what
13 the right answer is. Is good enough? How much do
14 we have to worry about the unintended consequences
15 that we saw with Opana, and are we going to be
16 driving that kind of behavior here? These are the
17 reasons why we need your help.

18 DR. BATEMAN: Dr. Fischer?

19 DR. FISCHER: Just to try to clarify, this
20 is more just a quick clarifying question. Am I
21 understanding the analysis right that the small
22 volume, the data that was presented an hour or so

1 with some kind of mechanical assistance to crush
2 it, and the 60 percent availability, that was a
3 small volume. And then the larger percentages was
4 the large volume. But it is possible to extract
5 this with the small volume as well, just because I
6 think we're conflating the two. And I wanted to be
7 clear that I'm understanding which data are which
8 is as we discuss this.

9 DR. HERTZ: Yes. And I'm looking at Valerie
10 Amspacher.

11 Valerie, do you want to speak to that?

12 DR. AMSPACHER: Hi. This is Valerie
13 Amspacher. So what we saw with the small-volume
14 extraction was we could get 60 percent extracted.
15 That was the maximum we saw. But there were
16 probably 5 conditions that we saw at least 50
17 percent extracted. So then when you move to the
18 large volume, the 30-mL volume, 14 different
19 solvents were tested, and these are common solvents
20 that you're going to see frequently used for abuse.
21 We would regularly see 80 to 90 percent extracted
22 with the 30 mLs, the large volume.

1 MALE SPEAKER: [Inaudible - off mic].

2 DR. BATEMAN: I guess not, not at this
3 point.

4 Other comments on intravenous? Dr. McCann?

5 DR. McCANN: I don't know if I'm going to be
6 clarifying your point for you, but I thought the
7 point that was made was that this drug is fairly
8 bioavailable orally, so that if you took a pill and
9 you put it in a large volume, you really wouldn't
10 get much benefit at all if you shared it. You'd
11 want it all for yourself, whereas with Opana, it
12 was not particularly orally bioavailable. So once
13 you got it into an intravenous form, you actually
14 had extra to share.

15 So I think there's a different behavioral
16 dynamic that we have to consider when we're looking
17 at large volumes. And yes, somebody might share,
18 but I don't think there would be the word out in
19 the street that you've got so much stuff here you
20 can share with your buddies.

21 Am I right?

22 DR. HERTZ: This is Sharon Hertz. I would

1 like to see if anyone on the committee would like
2 to comment further on whether there is potential
3 for sharing a 30-milligram dose. I think if it was
4 just available as a 5, perhaps that's not really
5 suitable for sharing, but I'm not sure about the
6 30.

7 DR. ZIBBELL: John Zibbell, RTI, Emory
8 University. How I see it, it wouldn't be you would
9 take one pill and you would put it in a 30-mL
10 solution, and you get 1-milligram per mL. I think
11 my fear would be you would put multiple pills in
12 there. So what you can do is you put multiple
13 pills, and now you're getting a 30-mL solution.
14 The equivalent would be if you had a 5-mL solution
15 and there was 60 percent, and everybody had a 1-mL
16 syringe, you could share, and everybody would get
17 8 mLs; wouldn't you think?

18 DR. BATEMAN: But if you're extracting more
19 pills, you probably need more solvent, just
20 proportion it.

21 DR. McCANN: You'd need 150 mLs, right?

22 DR. ZIBBELL: I don't know.

1 DR. McCANN: But isn't that what
2 large-volume extraction is? You need X amount of
3 volume per pill? So if you put 10 pills in, you
4 need 10 times the volume. But people could do
5 that. They can make a pitcher up -- I mean, I
6 didn't think of that. But they could make a
7 pitcher of it up, and then share that.

8 DR. ZIBBELL: But if you took a 30-mL pill
9 and you put it in 5-mL solution -- this is what
10 happened in Scott County. They were using
11 Opana ER, and instead of 1-mL syringes, they used
12 2 mLs of water, let's say. But they were using
13 1-mL syringes to inject. So now you had a 2-mL
14 solution, and they would split the solution, and
15 that's the sharing. So I think the concern is if
16 you have a larger solution, there's more available
17 to share. And people will share even if it's just
18 5 mLs.

19 DR. BATEMAN: But of course the same could
20 be said of the generic formulation, right?

21 DR. ZIBBELL: Yes.

22 DR. HERTZ: Wait. I don't think it was the

1 same for the generic formulation. And by generic,
2 I'm going to qualify for the non-abuse-deterrent
3 formulations that are currently marketed. Valerie
4 is here to clarify some of this, but also could you
5 please clarify what the volume used for the
6 comparator was to get the 60 percent or more out?

7 DR. AMSPACHER: Hi. This is Valerie
8 Amspacher. I just wanted to make one point about
9 30 mLs of solvent. Technically the testing that
10 was done was one 1 tablet in 30 mLs of solvent.
11 There was no testing done on 2 tablets per 30 mLs,
12 or 3, or 4, or 5 tablets per 30 mLs. So
13 technically, we don't know the answer to that
14 question. I'm sorry, but there's nothing to
15 suggest that you can't put 5 pills in 30 mLs and
16 extract a lot of oxycodone.

17 As far as the Roxicodone, that
18 extractability was 90 -- actually, it was probably
19 80 percent in the small volume. And I'm drawing a
20 blank on the large volume, but I would go with
21 90 percent.

22 Oh, actually for the large volume -- sorry,

1 it came back. I would say for the large volume,
2 you would get a 10 or 20 percent higher recovery
3 from Roxicodone versus MNK-812 in the 30-mL volume.
4 So it would depend on the solvent. Sometimes you'd
5 get 10 percent more Roxicodone. Sometimes you'd
6 get 20 percent more Roxicodone because the
7 extractability for the MNK-812 was already 80 to
8 90 percent for many of the solvents and 30
9 milliliters of volume.

10 Does that make sense?

11 DR. BATEMAN: Just to clarify, when you add
12 more tablets, for example, to a 30-mL solution,
13 you're starting to approach the proportions used
14 for the small-volume extraction study. Am I
15 understanding that incorrectly?

16 DR. AMSPACHER: I would say we can't answer
17 how many tablets you can add before you start
18 limiting solubility because we didn't test it. I
19 apologize. I don't have a better answer.

20 DR. BATEMAN: We'll get some clarification
21 there, and then the sponsor wanted to clarify this
22 particular question.

1 DR. PINTO: Hi. This is Julia Pinto, FDA.
2 To put it into perspective, with the small-volume
3 extraction, it was a 30-milligram tablet that was
4 extracted with 5 mLs. So we got 60 percent of
5 30 milligrams out in 5 mLs, which is about
6 18 milligrams. So if you compare that to 30
7 milligrams, in 30 mLs, that would imply that you
8 can definitely solubilize more than 1 tablet in 30
9 mLs. But to your point, yes, at some point you
10 will reach a saturation. We don't know what that
11 point is, but from the comparison of the 5 mL to
12 the 30 mL, it's definitely more than 1 tablet.

13 DR. BATEMAN: And the sponsor wanted to
14 provide some clarification on this.

15 DR. SCHLICHER: Appreciate the opportunity
16 to clarify. So the distinction there in the case
17 of the small-volume extraction is that's undergone
18 thermal treatment to break down the excipients to
19 allow it to be extractable. In the case of the
20 30 mLs, remember that's the requirement an IR
21 opioid must meet in order to be immediately
22 available.

1 So we are able to -- as Dr. Bateman said, is
2 we add a second tablet, you now have to increase
3 that volume or you're going to get the gelling
4 properties because we haven't broken down the
5 excipients. So you can't just keep adding tablets
6 to the 30 mLs, you need to double the solution in
7 order to be able to do that. So that concentration
8 largely remains the same, of about a milligram per
9 mL for an injection in contrast to what was
10 discussed here, where today with the current
11 Roxicodone, that would be 30 milligrams in a mL or
12 two.

13 So 30 milligrams in a mL or two, we're
14 comparing to 1 milligram per mL in the large-volume
15 extractions. To me that's clearly deterrent.

16 DR. HERTZ: This is Sharon Hertz. But my
17 understanding is our experience with Opana shows us
18 that people quite readily will heat-treat or
19 pretreat. And I'm looking at Dr. Zibbell based on
20 his experience.

21 DR. ZIBBELL: John Zibbell. Yes, they will.
22 They actually bake it, and cook it, heat it up, and

1 then they actually heat the solution as well; so
2 heats from soup to nuts.

3 DR. SCHLICHER: Yes. And I think that takes
4 us back to the small-volume extraction conditions
5 and also the reminder, the motivations are very
6 different because the differences of
7 bioavailability of the two, where oxycodone is
8 readily bioavailable in the tablet form, and
9 there's not that motivation.

10 DR. BATEMAN: Okay. Thank you.
11 Dr. Zibbell?

12 DR. ZIBBELL: I'll follow up there. Thank
13 you for that, that's really helpful.

14 I also just want to state that the gelling
15 mechanism is the reason why you need the solution.
16 So if you crush up a pill and you add 1 mL of
17 water, it just turns goopy, with the hydroxyethyl
18 cellulose. It's kind of like stuff in a diaper, so
19 it turns into a gel.

20 All you have to do is over-hydrate the
21 cellulose, and you don't need 30 mLs of water to
22 over-hydrate the cellulose in 1 pill. You could

1 absolutely put 2, 3, 4 pills in there because
2 30 mLs of water is a lot of water. So it's very
3 easy to over-hydrate cellulose. So I just want to
4 make that clear that from my experience, you could
5 put many pills in the 30 mL and make a solution if
6 you wanted to.

7 DR. BATEMAN: Dr. Fischer?

8 DR. FISCHER: At the risk of being a little
9 pedantic about which thing we're discussing, it
10 seems like the smaller volume piece and some of
11 the -- maybe if you do a larger volume but don't
12 get as much concentration of drug per mL, it sort
13 of speaks to this question about -- but the smaller
14 volume really speaks to this abuse deterrent. I
15 think we're supposed to in a minute turn to the
16 public health impact. I think it's where the Opana
17 example comes up. If you get a very concentrated
18 solution, that people are going to share, and that
19 might have sort of a knock on public health effect
20 with all those other consequences.

21 I think that's a distinction here. We're
22 thinking about does it deter abuse for which can

1 you extract it into a small volume is really
2 important versus if there's the potential for these
3 public health disasters that you can get when you
4 can make a very concentrated solution. I think
5 those are actually two different questions, which
6 is why I was asking for that clarification before.

7 DR. BATEMAN: Okay. Any other comments
8 about the intravenous route of abuse?

9 (No response.)

10 DR. BATEMAN: I think the committee's
11 discussion regarding the intravenous abuse
12 potential pointed to the fact that there's concern
13 that the abuse-deterrent properties can be overcome
14 with readily available methods and solvents.
15 There's perhaps some disagreement regarding where
16 the bar should sit in order to label something as
17 abuse deterrent via the intravenous route. There
18 was some concern voiced that if people move towards
19 larger volumes for extraction, that may lead to
20 sharing that may have important public health
21 consequences.

22 Any other points to make on this issue?

1 Dr. Perrone?

2 DR. PERRONE: Jeanmarie Perrone. We're
3 doing a lot of research with social media, and it
4 does seem that even today, you can quickly look on
5 Reddit for the exact recipe of all those different
6 solvents and all those different ways of
7 solubilizing and overcoming all of these barriers.
8 So the fact is, whatever the abuse-deterrent
9 formulation might be that can get as close to 80 or
10 90 percent extraction, that's the one that's going
11 to be out there when it's released.

12 DR. BATEMAN: Thank you.

13 So we'll move on to discussion question
14 number 2. The applicant is requesting approval of
15 oxycodone hydrochloride, immediate-release tablets.
16 MNK-812 is an analgesic with properties expected to
17 deter abuse by the intravenous and intranasal
18 routes.

19 Discuss whether you have concerns regarding
20 the impact of this oxycodone hydrochloride,
21 immediate-release product on public health. Take
22 into consideration its potential effects on the

1 abuse of opioids, including oxycodone, as well as
2 potential consequences of administration of this
3 product by unintended routes.

4 Are there any questions concerning the
5 wording of the question or comments on the wording
6 of the question?

7 DR. GREEN: I have a question.

8 DR. BATEMAN: Okay. Dr. Green?

9 DR. GREEN: Just with respect to the word
10 "abuse of opioids" is that inclusive of illicit
11 opioids or just the prescribed medications and
12 compounds?

13 DR. BATEMAN: So I'd say maybe start with
14 the prescribed medications, but then if you have
15 comments regarding opioid abuse overall, we can
16 take that into consideration. Dr. Zibbell?

17 DR. ZIBBELL: Sorry. I was going to wait,
18 but no one else did, so I'll go. Yes, I have two
19 concerns. One would be we know from the literature
20 that the sharing of paraphernalia equipment for
21 insufflation can lead to the transmission of
22 bloodborne pathogens, specifically with the

1 irritation of the nose and blood. So people are
2 using straws or tubes to snort medication. If this
3 is irritable, I'm not sure if repeated use does
4 compromise the nasal mucous membranes and lead to
5 blood being there, and then the sharing of a straw
6 or something to exchange blood. That's my first
7 with the nasal.

8 For the intravenous, I have a concern that's
9 analogous to Opana ER, that if you have a larger
10 volume solution, it sets up so people can share the
11 solution. In a lot of areas, people are really
12 poor and really struggling, and they often pool
13 money together to buy drugs. And when pool money
14 together to buy drugs, you share drugs.

15 So the sharing of the drugs, so having a
16 larger volume solution rather than a half a mL, 50
17 ccs or 100 ccs for one injection, having 5 mLs
18 allows potentially 2, 3, 4 people to share, even if
19 each dose is 3 milligrams, because people are sick
20 and people are going to do it. So I have the
21 infectious disease issue with both the nasal and
22 the intravenous.

1 DR. BATEMAN: Dr. Fischer?

2 DR. FISCHER: On the public health point, on
3 Dr. Zibbell's point, it seems like that concern
4 that was raised about sharing or people pooling
5 their resources isn't any different than what could
6 happen right now with any of the formulations that
7 are available. That seems like it's one where it's
8 not necessarily any better or worse; whereas Opana,
9 as I understand it, allowed for much more
10 concentrated solutions, this would be similar to a
11 lot of what is out there, from a public health lens
12 as opposed to the individual patient lens that we
13 were talking about in question 1.

14 But for the actual public health comment I
15 wanted to make is my concern about this in terms of
16 the public health is what might happen if we take
17 a -- and it goes to some of the misperception
18 concerns, recognizing that it's stated in
19 documents, the company says they're not going to go
20 out and promote it to clinicians as sort of the
21 non-addictive oxycodone.

22 Nonetheless, if we replace a big fraction of

1 the market share of the immediate-release oxycodone
2 with this agent, I do have a concern about how the
3 perception will spread in a clinician population
4 that are all looking for a quick and easy solution
5 to what do we do about prescribing opioids in the
6 current environment, that there will be a
7 perception of this is the safe one; we can just go
8 ahead without thinking about it too much. And
9 that's a public health concern that we need to
10 weigh.

11 DR. BATEMAN: But oxycodone is very widely
12 prescribed, so what's being discussed here is
13 replacing an oxycodone preparation that has no
14 abuse-deterrent properties with one that has
15 perhaps some. So I think that's something we have
16 to weigh and maybe people can comment on.

17 DR. ZIBBELL: There is a difference between
18 the Roxicodone instant release and this one, and
19 it's the volume of water. And for me, that's the
20 public health risk because the instant-release
21 Roxicodone, you can use a mL of water for a
22 30-milligram pill, and the water sits on top of it;

1 it doesn't turn gel. That's the instant release.
2 That's why injectors like it because you can crush
3 it. You put water on it, and it's really easy, and
4 in their words, beautiful to pull up; whereas the
5 gelling, you need more water to override the
6 hydroxy cellulose, and that leads to a bigger
7 solution, which it allows 3 or 4 people to share.

8 So I just wanted to clarify that there is a
9 difference between the two.

10 DR. BATEMAN: Okay. Other comments?
11 Dr. Meisel?

12 DR. MEISEL: Steve Meisel. I'm going to
13 take this in a different tact. We saw earlier
14 today the bioavailability data, that although it's,
15 by the book, bioequivalent based FDA's standards,
16 it's about 10 percent less bioavailable than the
17 reference product of Roxicodone. I don't know if
18 that's clinically relevant or not. And as one of
19 the public speakers mentioned, there's been no
20 efficacy data at all on oral oxycodone going back
21 for 30 years.

22 But if it were clinically relatively

1 different, from a therapeutic point of view, a
2 patient is going to -- instead of taking 10
3 milligrams, they think they're getting 10 but
4 they're really getting 9. And now it doesn't work
5 as well, and when it does work, it's going to be a
6 half an hour later in terms of a peak, they may be
7 more inclined to take more. And if they end up
8 taking more, that could increase the number of
9 milligram equivalents that are consumed, which then
10 adds to the opioid problems in a different way.

11 That's something that's strictly
12 speculation; we just don't know. But I think
13 assuming that these are bioequivalent because they
14 meet the 80 percent rule, even though they're
15 statistically less bioavailable than the reference
16 product, may have unintended consequences that we
17 haven't thought of and we're not prepared to study
18 whatsoever.

19 So I just want to keep that in mind, that
20 there may be some impact on the number of
21 milligrams consumed therapeutically, which then of
22 course has impact for abuse and misuse down the

1 road.

2 DR. HERTZ: This is Sharon. I just want to
3 point out that those are the criteria that are
4 established and used for generics as well. So it's
5 a standard that's out there and used. Even if the
6 company itself was going to make changes internally
7 and needed to conduct a new study, that would be
8 the same set of criteria for showing that the
9 product could still continue.

10 DR. MEISEL: Oh, I understand that, and
11 that's true with blood pressure medicine and all
12 sorts of other things as well; I get that. But
13 just because those criteria are there doesn't mean
14 that they don't have clinical impact.

15 DR. BATEMAN: Dr. Goudra?

16 DR. GOUDRA: Dr. Goudra, Penn Medicine. I
17 made some points. One, think Dr. Fischer mentioned
18 it that clinicians might be drawn into a false
19 sense of security with this and might start
20 over-prescribing. So there is always that risk.

21 Second, if the drug is as effective in terms
22 of deterrence, people might start looking for

1 street portions, which could be a much worse thing.

2 Third, people might start working ways to
3 decrease the nasal irritation. I don't know. For
4 example, if they just institute some local
5 anesthetic drops, would it be just a simple method
6 enough to decrease the irritation? What will
7 happen if you use a vasodilator, a nasal
8 vasodilator or just a vasodilator in general? Then
9 is the nasal -- would it increase the absorption?

10 So as a result, it's kind of unknown what
11 exactly it's going to be. In fact, I think the
12 one which I'm most concerned about is the very fact
13 it decreases the chances -- used nasally or
14 intravenous might just increase people to seek
15 drugs, which I think are available and plenty by
16 unscrupulous traders. Thank you.

17 DR. BATEMAN: Ms. Robotti?

18 MS. ROBOTTI: Most of the specific points I
19 wanted to make were made better already by others.
20 I would just like to say that I feel like without
21 addressing the primary route of abuse, we're trying
22 to hold a tiger by its tail. And I would like in

1 future meetings to have some address of oral abuse
2 addressed in some way. And I say that again so
3 that maybe it will make into the comments this
4 time. Thanks. Bye.

5 DR. BATEMAN: Dr. Green?

6 DR. GREEN: Traci Green, Boston University.
7 I think I'm looking to our recent history and also
8 my work with the high intensity drug trafficking
9 area and CDC, and some of the data, the DEA drug
10 threat assessments that have been placed into the
11 public literature, to think about the important
12 complications of fentanyl and earlier on, on
13 heroin, and specifically with respect to
14 counterfeit medications and the rise of counterfeit
15 pain pills that contain fentanyl.

16 When we look to the history of OxyContin and
17 its abuse-deterrent formulation change, we saw
18 counterfeit OxyContin pills, the OC, created
19 pressed with heroin, and then very quickly soon
20 thereafter pressed with fentanyl. In my neck of
21 the country in New England, the most commonly
22 obtained and recognized counterfeit medication is

1 the Mallinckrodt 30, the oxycodone single-entity
2 immediate release, and it has a very important
3 place in the community currently of people who use
4 drugs and the people that I research and work with.

5 So I think that we should consider not just
6 the implications on the people who have pain, and
7 the people who use drugs, and the providers, but
8 also perhaps on the illicit marketplace as part of
9 the conversation of the public health impact and
10 what it may mean.

11 By this, one of my concerns may be is the
12 already established counterfeit market for
13 oxycodone single-entity, immediate-release
14 counterfeits with fentanyl may actually increase as
15 people seek those that actually can be crushed and
16 snorted as opposed to those that are not currently
17 soluble or may be hard to -- and create an
18 irritant; so to consider those possible
19 complications.

20 The other thing I think that we see in
21 conversations with people who use drugs is the
22 important place, ironically, that the current

1 Roxicodone products are playing, those that can be
2 obtained either through the prescriber or on the
3 street, in terms of protecting people from fentanyl
4 exposure.

5 There's actually kind of a renaissance, in
6 many respects, to try to avoid fentanyl, and of
7 course, we don't have enough treatment slots. We
8 don't have enough beds and chairs to care for
9 people with opioid-use disorder further along in
10 their severity of addiction; but the importance of
11 thinking about the current marketplace as also one
12 that has its protective features, both from
13 counterfeits and from further fentanylizing, if you
14 will, in rural places in particular and suburban
15 locations that don't yet have a fentanyl or heroin
16 presence but that may have a reason to switch in
17 this instance, lacking the ability to continue to
18 snort or protect themselves from fentanyl That's a
19 concern I have.

20 DR. BATEMAN: Okay. Other comments?

21 (No response.)

22 DR. BATEMAN: I think the committee, there

1 seemed to be some agreement that medication does
2 have an impact on intranasal, or has the potential
3 to have an impact on intranasal abuse; perhaps less
4 so with intravenous. So are we concerned about a
5 shifting in utilization patterns away from
6 intranasal towards intravenous? And that was
7 raised in some of the comments during the public
8 session as well and as part of the story around
9 Opana, that it's more difficult to adjust nasally,
10 so people switched to using them intravenously.

11 Is that a concern? Do people want to
12 comment on that? Mr. O'Brien?

13 MR. O'BRIEN: Well, I guess it's just
14 the -- I'm sitting here thinking, it's just the
15 opposite. We don't know -- you're only dealing
16 with one side of the equation. Yes, there may be
17 more people that switch, but we don't know how many
18 people do not go to that next level, and do not
19 have an overdose, or do not have a death. We don't
20 know the positive side. We're looking at all the
21 potential negatives that may in fact happen, but we
22 don't have any data or any discussion around the

1 potential positives that in fact we heard in some
2 of the public discussion that's there.

3 There are clearly a lot of positives by
4 doing it and taking a current product that is very
5 easily adaptable, a hundred percent, and you could
6 do this and do that. And now you're going to
7 provide an abuse deterrent, at least as a beginning
8 product, but we have no data to do that.

9 So the conversation seems to be a one-sided
10 type effect. In terms of the positive benefits in
11 public health, the positive benefits, if there's
12 one life saved and if that's my family, I'm very
13 happy with that, and that's a good positive public
14 health.

15 DR. BATEMAN: Does anyone else want to
16 comment on the potential benefits of the
17 substitution of this formulation?
18 Dr. Hernandez-Diaz?

19 DR. HERNANDEZ-DIAZ: Other than the concern
20 about the potential move from nasal to intravenous
21 routes, I think we are discussing in general
22 whether deterrence of abuse are going to

1 potentially improve the situation. I think we
2 should be using in the country a tenth of the
3 opiates we are prescribing. I'm not promoting
4 opioid use, but within the current context, do we
5 think that having abuse deterrents in our product
6 is going to improve, or are we concerned from a
7 public health point of view that things are going
8 to get worse?

9 Other than the specific problem with perhaps
10 going into intravenous use, we are putting into
11 question that using the deterrents might actually
12 make things better. Do we think that's the way to
13 go? I think, looking for consistency, if we have
14 more committees, I think we are questioning that.

15 DR. BATEMAN: Dr. Marshall?

16 DR. MARSHALL: Brandon Marshall, Brown
17 School of Public Health. My primary concern with
18 these products -- and this is where I think we need
19 studies so desperately to inform this, is the
20 effect of these formulations on prescribing
21 behavior. We can imagine maybe a 20 percent
22 reduction in diversion and abuse due to this

1 formulation that could be completely offset by a 25
2 percent increase in inappropriate prescribing due
3 to misperceptions of the safety of the ADF
4 formulation in the prescribing community.

5 So without seeing that data, I suppose it's
6 speculation. So that's I suppose a call for those
7 studies. That's what I would like to see.

8 DR. BATEMAN: Dr. Perrone?

9 DR. PERRONE: Jeanmarie Perrone. Well, I'm
10 going to definitely agree with Dr. Marshall because
11 that was part of my point. But a lot of the
12 literature just shows how incredibly variable
13 opioid prescribing is, and there's a recent study
14 that we published about opioids for ankle sprains.

15 I think most of us would think that that's a
16 ghastly idea. But the variability in patients
17 being seen for ankle sprains was 2 to 40 percent of
18 patients getting opioid prescriptions. And that's
19 just exactly where you would tip the iceberg, where
20 someone's facing a patient where they could have
21 the difficult question of an NSAID versus an
22 opioid, but then they think, oh, let me go for that

1 safer opioid, and if the patient has insurance,
2 maybe it's not an issue of cost even if it's a
3 higher priced item.

4 So I think we haven't really capitalized on
5 the subjectivity of opioid prescribing yet and the
6 face to face a clinician is coming up with in every
7 single patient when we have this. We have vague
8 guidelines. Everything is so variable that I
9 really just want to overemphasize Dr. Marshall's
10 point and some of the other people's points, that
11 there is absolutely a huge risk of increasing
12 opioid prescribing.

13 While Dr. Diaz and I would definitely agree
14 that we should be prescribing 10 percent of what
15 we're prescribing, this really might cause a crazy
16 shift in the other direction, including people who
17 routinely prescribe hydrocodone may be shifting to
18 this product as well because of this perhaps
19 labeling.

20 DR. BATEMAN: Just to clarify your comment,
21 is it a question of the way these medications are
22 labeled and the term "abuse deterrence" or is it

1 the fact that they have these properties? What are
2 the --

3 DR. PERRONE: I think it's the doctors
4 reading headlines problem, that these things seem
5 like they're safer. And while they might be abuse
6 deterrent, they're not addiction-proof and they're
7 not abuse-proof by the oral route. So clumping
8 them all together into the idea that they're safer
9 is exactly the misperception that's going to get
10 exploded, escalated, all throughout clinical
11 practice.

12 It's the people who read the subtext who may
13 understand that these are not any safer or maybe a
14 fraction safer, but not to the risk of
15 overprescribing. We have not really clarified our
16 prescribing goals or diagnosis-based prescribing.
17 There's no standards for virtually every diagnosis
18 that gets opioids, maybe excluding cancer.

19 I'm a frontline emergency clinician. I see
20 every disease, I see every disorder, and if you
21 looked at me and lined up a bunch of my colleagues,
22 everyone would feel a little bit differently about

1 when we should try other drugs first. So that's
2 what worries me.

3 DR. BATEMAN: Dr. Zibbell?

4 DR. ZIBBELL: John Zibbell. I think one of
5 the things that's hard to wrap our heads around,
6 too, is the population. So when we're asking is it
7 going deter abuse, I guess it would be like among
8 what population, because all opioid users aren't
9 the same.

10 The sponsor did it among recreational users,
11 so we're trying to weigh the risks and benefits.
12 Are we going to say, okay, this might have a
13 deterrent effect among a small number of
14 recreational users who might not want that, who
15 might not like the nasal irritant, and they might
16 not transition, and weighing that against the
17 million people we have in the United States who are
18 physically dependent on opioids, and whether this
19 will create health outcomes down the road that
20 outweigh -- and it's hard to -- I'm even having a
21 hard time comparing both groups because they're
22 both important.

1 Back to the Mr. O'Brien's comment before, I
2 think this is still orally taken. So it doesn't
3 prevent someone from initiating an opioid. It
4 prevents going down routes of administration. So
5 that's my thing. I'm looking at the population, so
6 I'm trying to weigh what I think might be a small
7 benefit for a small
8 group; does that outweigh all the health effects
9 that we've seen with previous medications, Opana
10 being one.

11 I just wanted to share with the group, I
12 think it's important to think of what population
13 we're talking about to deter abuse because it's not
14 going to deter abuse for everybody, and who do we
15 mean? This study is a small group, and can you
16 extrapolate? And that's why I appreciate FDA
17 allowing us to talk about public health effects,
18 and that's new. We weren't able to talk about that
19 before.

20 So they're so big, and they're so large, and
21 in terms of costs, there are way more costs than
22 the group we're protecting, but it's hard to

1 compare lives.

2 DR. BATEMAN: Dr. Meisel?

3 DR. MEISEL: On that point, just going back
4 to a bigger picture here, we've got guidelines for
5 industry on abuse-deterrent formulations, but we
6 haven't defined the population. We haven't defined
7 the term "deter abuse." We haven't defined the
8 term "sufficient data."

9 I think more clarity needs to be had, and
10 the agency needs to go back and rethink the model,
11 and the strategy, and all that as to what exactly
12 is it that is intended to be accomplished because
13 every applicant will have a different frame of
14 reference for what we mean or what the agency means
15 by deter abuse, in what population, what situation,
16 in what settings.

17 I think as we've heard here, the populations
18 and situations are so variant, that you might deter
19 here, but in doing so, you increase it there. So I
20 think it's a good idea that was developed three
21 years ago for the guidance, but I think a lot more
22 work needs to go into rethinking that document and

1 providing a whole lot more specificity for what the
2 goal is.

3 DR. BATEMAN: Dr. Shoben?

4 DR. SHO BEN: I just want to echo a couple of
5 comments. One is to say that -- well, yes, echo a
6 couple comments, and then give my thoughts on this
7 subject, which is to say we definitely need data at
8 the public health level.

9 This is the point that I made long time ago,
10 where we were discussing how to evaluate these
11 abuse-deterrent formulations, which is I think the
12 question is really what Dr. Marshall I think was
13 the first one to allude to, which is if you were to
14 implement a scenario where all of the IR
15 single-entity oxycodone was this abuse-deterrent
16 formulation, what sort of impact does that have on
17 that population and how do you compare that to the
18 similar population where everything is not the
19 abuse-deterrent formulation?

20 That's the question that would really give
21 you this answer about public health concerns and
22 how do you weigh the benefits and the risks at a

1 public health level. So I would hope that maybe
2 someday we could have data on that exact topic, but
3 until you have that, there's a lot of speculation.

4 There are high profile examples of where
5 this has led to unintended consequences, Opana
6 being the most obvious, but there's not that same
7 anecdote about the person who was deterred because
8 they tried to crush the pill, and it didn't work,
9 so they gave up. We just don't have that data.

10 So it's really hard to know how to weigh
11 those two things when one is like this high
12 profile, everybody's talking about it, and the
13 other is you don't even know that it didn't happen
14 because they're not telling you in the newspaper
15 about, hey, I tried to crush the pill and it didn't
16 work, so, hey, I didn't become an opioid user.
17 That's a weird sort of story.

18 So I don't know how to weigh all those
19 things and try to determine what the future is for
20 abuse-deterrent formulations.

21 DR. BATEMAN: Dr. Perrone?

22 DR. PERRONE: Just to echo one more thing,

1 When we're at that junction of I've tried oral for
2 a little bit and now I want to try nasal, and I
3 only have this ADF product, in places like
4 Philadelphia where fentanyl is ubiquitous, the leap
5 from oral to fentanyl could happen in half an hour
6 stepping outside of your apartment building.

7 So there's no question that that has to be
8 part of our public health study and likely is
9 already consequence of some ADF formulations that
10 may already be out there.

11 DR. BATEMAN: I'll try to summarize our
12 discussion of this question. I think the committee
13 was in agreement that it's very difficult to assess
14 the public health impact of a reformulation of
15 oxycodone that's proposed. On the one hand, it may
16 deter some abuse, and it may deter some people who
17 are using the medications orally to switching to
18 insufflating the medication or even injecting it
19 intravenously. But by changing the label, that
20 there may be unintended effects of physicians
21 feeling reassured that the medication is safer than
22 it may be.

1 Several people pointed out the highly
2 subjective aspect of prescribing opioids the way in
3 which that's at the discretion of the clinician.
4 So by labeling something as an abuse deterrent or
5 having a headline that this is a safer formulation,
6 it may lead to more prescribing.

7 There was also several people that brought
8 up the point that the public health impact will
9 really depend on the population, and it's going to
10 be highly context specific. There's going to be an
11 essentially different effect on recreational users
12 than on populations that are already opioid
13 dependent, where the changes in behavior associated
14 with the replacement of a non-abuse deterrent
15 formulation with this product may have an impact.

16 There's also some concern raised about this
17 question around large volumes needed to create an
18 injectable form could lead to some drug sharing.
19 Some people raised the point around the fact that
20 the drug has pretty substantial adverse effects
21 when adjusted via the intranasal route, that that
22 may tip the use towards the intravenous route in

1 some people.

2 Any other comments on this point to include?

3 (No response.)

4 DR. BATEMAN: Okay. So we'll now take a
5 15-minute break. Panel members, please remember
6 there should be no discussion of the meeting topic
7 during the break amongst yourselves or with members
8 of the audience. We'll resume at 3:15.

9 (Whereupon, at 3:14 p.m., a recess was
10 taken.)

11 DR. BATEMAN: We'll now move on to the
12 voting questions. We'll be using an electronic
13 voting system for the meeting. Once we begin the
14 vote, the buttons will start flashing and will
15 continue to flash even after you've entered your
16 vote. Please press the button firmly that
17 corresponds to your vote. If you're unsure of your
18 vote or wish to change your vote, you may press the
19 corresponding button until the vote is closed.

20 After everyone has completed their vote, the
21 vote will be locked in. The vote will then be
22 displayed on the screen. The DFO will read the

1 vote from the screen into the record. Next, we'll
2 go around the room, and each individual who voted
3 will state their name and their vote into the
4 record. You can also state the reason why you
5 voted as you did if you want to. We will continue
6 in the same manner until all questions have been
7 answered or discussed.

8 Question 3. If approved, should oxycodone
9 hydrochloride immediate-release tablets, MNK-812,
10 be labeled as an abuse-deterrent product by the
11 nasal route of abuse? Are there any clarifying
12 questions or comments?

13 (No response.)

14 DR. BATEMAN: Please press the button on
15 your microphone that corresponds to your vote.
16 You'll have approximately 20 seconds to vote.
17 Please press the button firmly. After you've made
18 your selection, the light may continue to flash.
19 If you're unsure of your vote or wish to change
20 your vote, please press the corresponding button
21 again before the vote is closed. So we'll now move
22 on to the vote.

1 (Voting.)

2 DR. BATEMAN: Everyone has voted. The vote
3 is now complete.

4 DR. CHOI: For the record, we have 12 yes; 5
5 no; zero abstentions.

6 DR. BATEMAN: Now that the vote is complete,
7 we'll go around the table and have everyone who
8 voted state their name, vote, and if you want to,
9 you can state the reason why you voted as you did
10 into the record. We'll start on the left with
11 Dr. Arfken.

12 DR. ARFKEN: I was convinced by the evidence
13 that there is a difference, so I'm not saying it
14 would be the best thing in the world. I like the
15 analogy of it. It's not a home run, but
16 getting to first -- it's not a home run. I'll
17 leave it there.

18 (Laughter.)

19 DR. MARSHALL: Brandon Marshall, Brown
20 School of public health. I voted yes. This was an
21 equivocal yes. I was convinced that for a small
22 group of individuals, this may prevent insufflation

1 of the substance. So therefore, it may have some
2 degree of abuse deterrence by the nasal route.

3 DR. GREEN: This is Traci Green from Boston
4 University. I voted no. I did not see that there
5 was sufficient data to suggest that it would not be
6 manipulated or insufflated, and that the overall
7 30-minute effect for irritation was sufficient to
8 reduce and meet the abuse potential concern.

9 DR. ZELTZER: Hi. Lonnie Zeltzer. I voted
10 yes because I think the questions that were raised
11 of concern are broader questions that the committee
12 and the FDA need to address. And I didn't feel
13 like there was enough negative to penalize this
14 particular product because of the questions that we
15 raised that I think are broader implications in our
16 voting moving forward.

17 DR. GOUDRA: I voted yes for similar
18 reasons. The question is not one of degree; the
19 question is yes or no. Thank you.

20 DR. BATEMAN: Brian Bateman. I voted yes
21 based primarily on data from the intranasal human
22 abuse potential studies showing a decrease in

1 overall drug liking and take drug again. This is
2 not a perfectly deterrent formula, but it's
3 definitely a step in the right direction based on
4 my interpretation of the data.

5 DR. HERNANDEZ-DIAZ: Sonia Hernandez-Diaz.
6 I voted yes because the question was not whether
7 it's going to prevent abuse but whether it is a
8 deterrent. And I think it is less likely that
9 oxycodone alone, without the abuse-deterrent
10 formulation, to be used nasally -- there will be
11 adverse effects at the beginning that I think will
12 make it more likely for the users to take 2 or
13 3 pills orally given the bioavailability than to
14 use it through this route.

15 So that's why I thought it was within the
16 deterrent definition.

17 DR. McCANN: Mary Ellen McCann. I voted yes
18 for similar reasons. I think in some ways, this
19 company had an easy bar because the oral route is
20 so bioavailable that almost any deterrence that
21 they put on it would be truly a deterrent because
22 you could get what you needed taking the drug

1 orally.

2 DR. SHOBEN: Abby Shoben. I voted yes
3 primarily based on the take drug again and overall
4 drug liking from the human abuse potential study.

5 DR. MEISEL: Steve Meisel. I voted no,
6 although if the question were phrased differently,
7 I might have been convinced to vote yes. But the
8 reason I voted no is because I think, although it
9 does have what others have described, some abuse
10 deterrence in some populations, I don't know that
11 it's got an abuse deterrence in the broader
12 population.

13 The labeling is not going to be that
14 specific. The labeling would not be -- well, in
15 this group, it could be abuse deterrent, but in
16 this other group it may not be. You're not going
17 to get to that kind of fine tuning on a labeling,
18 and even if you did, nobody would read it. But if
19 we were, I do think it does have some
20 abuse-deterrent properties for intranasal use, but
21 only in a small population of potential users.

22 DR. ZIBBELL: John Zibbell. I voted no. My

1 biggest concern was the small population, and I
2 thought the risks outweigh the benefits to that
3 small population. I was also not inclined on the
4 data of recreational users disliking the drug. I
5 thought those results weren't that strong,
6 particularly for a recreational population.

7 DR. FISCHER: Mike Fischer. I voted yes.
8 My interpretation of the data was actually very
9 similar to a couple of members of the committee who
10 just spoke. But I felt like looking at the study
11 that was done, even though it is a relatively
12 narrow population, it is, compared to the other
13 alternatives, less abusable. And the way the
14 question was set up, that left me to yes.

15 DR. PRISINZANO: Tom Prisinzano, University
16 of Kansas. I voted yes for the reasons that have
17 been stated previously.

18 DR. PERRONE: Jeanmarie Perrone. I voted
19 no. I'm concerned that the intranasal deterrents
20 wears off, and for committed users, that's probably
21 going to lead to other problems.

22 MS. ROBOTTI: Suzanne Robotti. I voted no

1 because it was not tested. In conjunction with
2 products that could block or stop the discomfort,
3 the group upon which it was tested was too small to
4 identify unanticipated adverse events for me. It
5 certainly wasn't tested against any subpopulations.
6 The unknown risks outweigh the theoretical
7 benefits.

8 DR. HIGGINS: Jennifer Higgins. I voted
9 yes.

10 MR. O'BRIEN: Joe O'Brien. I voted yes.

11 DR. BATEMAN: Okay. We'll now move on to
12 voting question 4. The question is, if approved,
13 should oxycodone hydrochloride immediate-release
14 tablets, MNK-812, be labeled as an abuse-deterrent
15 product by the intravenous route of abuse? Are
16 there any clarifying questions or comments?

17 (No response.)

18 DR. BATEMAN: Okay. So if there are no
19 further questions or discussion on this question,
20 we'll now begin the voting process. Please press
21 the button on your microphone that corresponds to
22 your vote. You'll have approximately 20 seconds to

1 vote. Please press the button firmly. After
2 you've made your selection, the light may continue
3 to flash. If you're unsure of your vote or wish to
4 change your vote, please press the corresponding
5 button again before the vote is closed.

6 (Voting.)

7 DR. BATEMAN: Everyone has voted. The vote
8 is now complete.

9 DR. CHOI: For the record, we have 7 yes; 10
10 no; zero abstentions.

11 DR. BATEMAN: Now that the vote is complete,
12 we'll go around the table and have everyone who
13 voted state their name, vote, and if you want to,
14 you can state the reason why you voted as you did
15 for the record, starting with Dr. Arfken.

16 DR. ARFKEN: Cynthia Arfken. I voted no. I
17 was very concerned about the long-term safety, and
18 I wasn't as convinced of the abuse deterrent.

19 DR. MARSHALL: Brandon Marshall. I voted
20 no. I wasn't convinced by the data around the
21 prevention of parenteral consumption of this
22 substance. It just seemed like we needed more to

1 truly evaluate whether there would be truly
2 deterrence to injection of this ADF formulation.

3 DR. GREEN: Traci Green from Boston
4 University. I voted no based on the data presented
5 from both the company itself and also from the FDA
6 suggesting the syringeability and abuse potential
7 via parenteral routes

8 DR. ZELTZER: Lonnie Zeltzer. I voted yes
9 because I felt like the data certainly in this
10 smaller dose was convincing, and I wasn't convinced
11 that the larger dose could be divided up; at least
12 the data weren't there to convince me of that.

13 DR. GOUDRA: Goudra from Penn Medicine, and
14 I voted yes. In fact, this one is more robust to
15 yes compared to question 3. Thank you.

16 DR. BATEMAN: Brian Bateman. I voted yes.
17 I think any formulation's, the abuse-deterrent
18 properties are going to be able to be overcome with
19 sophisticated enough methods and time. But I think
20 there were compelling data presented that it's more
21 difficult to extract the oxycodone from this
22 formulation than Roxicodone, and thus would expect

1 that there's some barrier to intravenous abuse.

2 DR. HERNANDEZ-DIAZ: Sonia Hernandez-Diaz.

3 I voted yes, although it was a weaker yes in this
4 case because I think it depends on where we put the
5 bar for deterrence, but still there was some
6 deterrence from parenteral use compared to using it
7 it orally with just higher doses given the
8 bioavailability.

9 DR. McCANN: Mary Ellen McCann. I voted
10 yes. My yes maybe was a little bit weaker. What
11 concerns I had were about the excipients. I think
12 we just don't have a huge amount of data about
13 long-term use of these excipients being injected.
14 That being said, I still voted yes.

15 DR. SHOBEN: Abby Shoben. I voted yes. I
16 agree that I think the data were a little weaker
17 for prevention of intravenous abuse, but that there
18 was still some evidence that there'd be a barrier
19 to intravenous abuse compared to intact Roxicodone.

20 DR. MEISEL: Steve Meisel. I voted no.
21 While there may be a barrier, I think that barrier
22 is pretty flimsy and easily overcomable. Perhaps

1 the 16 year old who's trying his mom's oxycodone
2 for the first time, it's a barrier, but anybody who
3 wants to dissolve this stuff and inject well, I
4 don't think it's going to be a deterrence to real
5 abuse.

6 DR. ZIBBELL: John Zibbell. I voted no. I
7 thought that the evidence showed that it could be
8 extracted and suspended in solution, albeit with
9 some effect. I thus believe it will be injected
10 and shared, and I therefore believe infectious
11 disease risk is significantly increased with this
12 medication.

13 DR. FISCHER: Mike Fischer. I voted no. I
14 felt like looking at the data that were presented,
15 this was a somewhat more difficult to abuse
16 formulation, but there wasn't anything that to me
17 rose to the level of actually deterring the use of
18 this product intravenously given that once there is
19 a method that works, it will disseminate rapidly.

20 DR. PRISINZANO: Tom Prisinzano. I voted no
21 as well. I guess I had difficulty looking at the
22 difference between the FDA data versus that of the

1 sponsor's data, and in this particular case,
2 looking and ultimately coming down to voting on the
3 side of no, based upon my difficulty in relating
4 that information between the two.

5 DR. PERRONE: Jeanmarie Perrone. I voted
6 no. I would ask the FDA to clarify maybe in the
7 future the idea of is it a qualitative or
8 quantitative assessment of abuse deterrence. And I
9 think quantitatively, we can conclude that there
10 will be a way to overcome that gap in abusability
11 and syringeability, and that that one recipe that
12 will get us to 90 percent of the product or
13 80 percent of the product will be widespread once
14 disseminated.

15 MS. ROBOTTI: Suzanne Robotti. I voted no
16 for many of the reasons already mentioned, but I'd
17 like to say that, again, the test was on very small
18 groups of rabbits and guinea pigs, or on other
19 small animals. It does not give us enough data to
20 convince me that there wasn't even an exploration
21 of unanticipated adverse events from the
22 ingredients or from the various uses of the drug.

1 DR. HIGGINS: Jennifer Higgins. I voted yes
2 for the reasons I previously stated.

3 MR. O'BRIEN: Joe O'Brien. I flipped on
4 this one. I voted no. I probably could abstain.
5 But I wasn't as convinced with previous panels.
6 And with Dr. Fischer and Dr. Prisinzano, I thought
7 there were some questions that were left in my mind
8 at the end of our discussion going around.

9 DR. BATEMAN: Okay. Thank you. So we'll now
10 move on to voting question 5. The question is,
11 should oxycodone hydrochloride immediate-release
12 tablets, MNK-812, be approved for the management of
13 pain severe enough to require an opioid analgesic
14 and for which alternative treatments are
15 inadequate? Are there any clarifying questions or
16 comments regarding this question?

17 (No response.)

18 DR. BATEMAN: Okay. In the absence of
19 clarifying questions, we will now begin the voting
20 process. Please press the button on your
21 microphone that corresponds to your vote. You'll
22 have approximately 20 seconds to vote. Please

1 press the button firmly. After you've made your
2 selection, the light may continue to flash. If
3 you're unsure of your vote or wish to change your
4 vote, please press the corresponding button again
5 before the vote is closed.

6 (Voting.)

7 DR. BATEMAN: Everyone has voted. The
8 voting is now complete. Now that the vote is
9 complete, we'll go around the table and
10 everyone -- oh, excuse me. Sorry.

11 (Laughter.)

12 DR. CHOI: For the record, we have 10 yes; 7
13 no; zero abstentions.

14 DR. BATEMAN: Okay. Now that the vote is
15 complete, we'll go around the table and have
16 everyone who voted state their name, vote, and if
17 you want to, you can state the reason why you voted
18 as you did into the record. And this time let's
19 start on the right, so that's Mr. O'Brien.

20 MR. O'BRIEN: Joe O'Brien. I voted yes. I
21 do think it's an important drug. I think it is an
22 important step. I do think for the population of

1 patients that I'm thinking about, who don't even
2 know what drug they're taking necessarily, what
3 name it is, or whatever -- but in the case of where
4 there may be diverted, I think it is important to
5 have something that has the provided benefits that
6 are given.

7 DR. HIGGINS: Jennifer Higgins. I voted
8 yes. I think it's a step in the right direction.
9 I also was persuaded by several points that were
10 made today; specifically the fact that there are
11 fewer IR single-entity products being prescribed,
12 which means to me there's less readily available
13 product for diversion. I also found that the data
14 on the global assessment of taking drug again, I
15 found that highly persuasive. So those were
16 several things that stood out for me.

17 MS. ROBOTTI: Suzanne Robotti. I voted no.
18 If the distribution of the drug can be limited to
19 those who are prescribed it appropriately and use
20 it appropriately, I would feel differently, but
21 there's a very predictable expectation that there
22 will be illegal use, abusive use. Because we can

1 predict that and the concerns I have over that, I
2 had to vote no. I don't believe this drug is a big
3 enough step forward to warrant the risk that it
4 causes.

5 DR. PERRONE: Jeanmarie Perrone. I voted
6 no. I'd like to restate that I was here about 5
7 years ago maybe when we voted no for Zohydro, and
8 the FDA committee went ahead and approved it 7 or
9 8 months later. That was the tip of the beginning
10 of our knowledge of the worst public health crisis
11 of our time. And now 5 or 6 years later, I don't
12 want to be misguided into thinking that the goal of
13 the sponsor here is particularly aimed at making a
14 safer product.

15 The economic review of abuse-deterrent
16 products suggests that there will be \$2 billion
17 spent to save one life, so I'm just a little
18 bit -- I want to just reshape the idea that it's a
19 little misguided to head in that direction and that
20 I think it's time that we actually regulate rather
21 than go along with the goals of our sponsors.

22 So for all the unintended consequences, for

1 the real clinical spectrum of patients that I see,
2 and for the really biggest concern that people
3 might think that an abuse-deterrent formulation is
4 an addiction-proof or abuse-proof formulation
5 really raises great concerns in my mind.

6 DR. PRISINZANO: Tom Prisinzano, University
7 of Kansas. I voted yes. I thought that the
8 sponsor put together a convincing argument for the
9 abuse-deterrent formulation.

10 DR. FISCHER: Mike Fischer, Boston. I voted
11 no. When I weighed the risks and benefits, to me
12 there is an argument for abuse deterrence in the
13 narrow window of individuals who are relatively new
14 to misusing the drug by the nasal form, but for
15 experience users, we don't really have data. And
16 panelists spoke convincingly about the idea that
17 indeed using an opioid despite aversive effects is
18 kind of the definition of an opioid-use disorder,
19 and that for intravenous, it didn't, to me, meet
20 the bar for abuse deterrent.

21 Then weighing the risks, the public health
22 risk of having the first immediate release, what

1 would likely be massively available abuse-deterrent
2 opioids, the possibility of misperceptions just at
3 a time when we are starting to see a decrease in
4 the extent to which prescription opioids are part
5 of the crisis, there's plenty of other elements
6 that strike me as potentially a step in the wrong
7 direction in terms of overall prescribing safety
8 for patients. So putting those risks and benefits
9 together left me at a no.

10 DR. ZIBBELL: John Zibbell. I voted no, but
11 this is not because I don't think we need good
12 opioid medications for pain. We absolutely do, and
13 I believe there are already drugs on the market for
14 pain patients. That question said nothing about
15 diversion or abuse deterrence at all. So I think
16 we already have instant-release medications for
17 pain patients, and I support that. And I actually
18 think the pendulum has swung, so we have real pain
19 patients that aren't getting the medications they
20 need. That does concern me, and they need those
21 medications.

22 I do want FDA to consider the whole

1 abuse-deterrent framework. From what I've seen
2 both on the street, in these halls, and in my own
3 research, I'm just not convinced, at least where
4 the science is now, that they're better than non-
5 abuse deterrence. I think the population that
6 we're trying to protect here is really small
7 compared to the greatest risk. And I think we
8 really need to focus on prescribing anti-diversion
9 practices and responsible prescribing rather than
10 going around the back end and trying to do abuse
11 deterrence, at least with the status of the
12 sciences now for abuse deterrence. And maybe that
13 will change in the future, but until then, I think
14 it's really problematic.

15 DR. MEISEL: Steve Meisel. I voted yes even
16 though I voted no for the first two questions, and
17 it requires some explanation here. The question
18 here is whether the drug should be approved for the
19 management of pain. This is a bioequivalent
20 analgesic. So if the labeling were indeed limited
21 to just that; and the applicant is indeed serious
22 about following through on taking off the original

1 product and replacing it this as the standard
2 rapid-release oxycodone; and as long as it didn't
3 have the labeling for abuse deterrence, I'm fine
4 with that. It's bioequivalent. It works. I've
5 got no issue with that. But I don't believe that
6 the labeling should include abuse deterrence. I
7 think that's problematic.

8 As long as I've got the microphone -- I
9 mentioned this before and I'll mention this
10 again -- the agency requires Category 4 studies as
11 a condition for approval, yet we've heard today
12 that the agency has no power to enforce that once
13 the drug is actually on the market and doesn't
14 enforce it. We've got the OxyContin that's been on
15 the market since 2010-2011, and we're still waiting
16 for data, and that's eight years.

17 I think that's indefensible. I think if
18 indeed the drug were approved and if there was a
19 requirement for Category 4 studies, the requirement
20 has to include a time certain by which data and
21 studies are submitted and deemed to be acceptable
22 or the approval is withdrawn. But this open-ended,

1 we'll wait until they send us data, or we'll wait
2 until they come up with a study that we'll approve
3 and we'll just see what happens, I don't think
4 that's defensible.

5 DR. SHOBEN: Abby Shoben. I voted yes. I
6 do think it's an incremental step forward as an
7 abuse-deterrent formulation of the IR oxycodone. I
8 agree actually with Dr. Meisel about the importance
9 of the Category 4 studies going forward
10 postmarketing.

11 DR. McCANN: Mary Ellen McCann. I voted
12 yes. I actually took the question at face value,
13 and since it's bioequivalent to the alternative, I
14 voted yes.

15 DR. HERNANDEZ-DIAZ: Sonia Hernandez-Diaz.
16 I voted yes because I thought that [indiscernible]
17 versus oxycodone without formulation may affect the
18 proportion of the population not going down the
19 path, but this is based on no data. So I totally
20 agree that we need to base our decision on data,
21 hopefully.

22 But I would like to highlight that I'm all

1 for regulation of all opioids and for responsible
2 prescribing and reducing the use in the population.
3 And I was just hoping, based on the literature,
4 that that can take us into that direction, but I
5 might be wrong.

6 DR. BATEMAN: Brian Bateman. I voted yes,
7 first based on the bioequivalence of the drug to
8 Roxicodone. And second, we saw data that
9 immediate-release oxycodone is widely abused, so
10 there's a clear need for abuse-deterrent
11 formulations of immediate-release oxycodone. And
12 while the abuse-deterrent properties of this
13 medication are perhaps not as robust as we might
14 like, it is an important advance over the existing
15 formulations.

16 I agree with members of the committee that
17 it's hard to fully evaluate the public health
18 impact of introducing this medication, so I think
19 there will be a real need for close surveillance in
20 the postmarketing period to detect any unintended
21 consequences.

22 DR. GOUDRA: Dr. Goudra. I did vote yes for

1 a few reasons. One, there's no debate that opiates
2 are indispensable for many types of pain, and there
3 is always this risk of increased prescription
4 because clinicians may read the label, but that's
5 not fault of the manufacturer. And the results
6 increase risk of street use, but again, there are
7 other ways of tackling it. The opioid crisis
8 requires a multi-prong attack, and this is one of
9 them. Thank you.

10 DR. ZELTZER: Hi. Lonnie Zeltzer, and I
11 voted yes, basically because I feel like IR
12 oxycodone is out there. It's used. It's abused.
13 So even if a small percent of patients -- if it
14 provides some abuse deterrence to even a small
15 percentage given that it's very widely used and
16 abused, then it's worth it. And I just want to say
17 ditto to what Steven or Dr. Meisel said in terms of
18 something I think FDA needs to tackle in the future
19 in terms of this, how to enforce this phase 4
20 because that's the big missing black box right now.

21 DR. GREEN: Traci Green from Boston
22 University. I voted no in consistency with my

1 prior two vote. We already know that the current
2 medication that's approved is already an important
3 drug and that we have opportunities to work through
4 prescribing efforts and other guideline-based
5 efforts to reduce prescribing and reduce the impact
6 of misuse and diversion.

7 We are continuing to see that the trends for
8 oxycodone IR are reducing over time, and we're
9 starting to see a change in our national epidemic
10 to indicate that. So I see the incremental effect
11 of this introduction of an approval for this vote
12 is too incremental. The insignificant advances
13 that it would put into the marketplace do not
14 counterbalance the risks that it may introduce in
15 the introduction of more potential effects on the
16 public health impacts, as well as on the
17 marketplace itself. We cannot underestimate the
18 impact of having multiple abuse-deterrent
19 formulation or formulations such as the one we're
20 considering, especially focused on oxycodone
21 products.

22 Finally, I think it's important to think

1 about the large market share that oxycodone
2 immediate release has. So it's not a small
3 tinkering; it's a very large one by approving this
4 particular product. And that is a concern of mine.

5 DR. MARSHALL: Brandon Marshall. I voted no
6 for reasons similar to Dr. Green. I've weighed the
7 risks and benefits. Even against the currently
8 approved medication, it seems like there may be
9 some incremental benefit. Maybe a small group of
10 recreational users may cease to insufflate the drug
11 and use it orally instead, in which there is still
12 some risk of dependence through that mode of
13 transmission.

14 I just felt like those incremental benefits
15 were outweighed by the risks that I heard around
16 the table, Dr. Zibbell mentioning increased
17 infectious disease risk through sharing;
18 Dr. Perrone mentioning the subjectivity of opioid
19 prescribing and how this may induce misperceptions
20 in the prescribing community. I understand those
21 risks are conjectural, but until I see that data,
22 it just seemed to me like those are present and

1 real outweighed the marginal benefit of this
2 medication.

3 DR. ARFKEN: Cynthia Arfken. I voted no. I
4 thought it had nasal abuse-deterrent properties,
5 but not IV. And because of that, I would not want
6 to switch the abuse to IV, and therefore voted no.

7 DR. BATEMAN: Thank you. Before we adjourn,
8 are there any last comments from the FDA?

9 DR. HERTZ: I just want to thank everybody
10 for their thoughtful deliberations and for taking
11 time from their busy schedules.

12 **Adjournment**

13 DR. BATEMAN: Okay. Panel members that are
14 returning for tomorrow's meeting, please monitor
15 your emails early tomorrow in case there's a
16 federal government delay due to potentially
17 inclement weather in the morning.

18 Panel members, please take all your personal
19 belongings with you, as the room is cleaned at the
20 end of the meeting day. All materials left on the
21 table will be disposed of. Please also remember to
22 drop off your name badge at the registration table

1 on your way out so it may be recycled. We will now
2 adjourn the meeting. Thank you.

3 (Whereupon, at 4:04 p.m., the meeting was
4 adjourned.)

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22