

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

PHARMACY COMPOUNDING ADVISORY COMMITTEE (PCAC)

Wednesday, September 12, 2018

8:18 a.m. to 12:40 p.m.

Morning Session

FDA White Oak Campus
Building 31, the Great Room
10903 New Hampshire Avenue
Silver Spring, Maryland

1 **Meeting Roster**

2 **ACTING DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Jay R. Fajiculay, PharmD**

4 Division of Advisory Committee and Consultant
5 Management

6 Office of Executive Programs, CDER, FDA

7
8 **PHARMACY COMPOUNDING ADVISORY COMMITTEE MEMBERS**

9 **(Voting)**

10 **Robin H. Bogner, PhD**

11 Professor

12 University of Connecticut

13 School of Pharmacy

14 Department of Pharmaceutical Sciences

15 Storrs, Connecticut

16
17 **Michael A. Carome, MD, FASHP**

18 ***(Consumer Representative)***

19 Director of Health Research Group

20 Public Citizen

21 Washington, District of Columbia

22

1 **Seemal R. Desai, MD, FAAD**

2 President and Medical Director

3 Innovative Dermatology

4 Plano, Texas

5

6 **Padma Gulur, MD** (via phone)

7 Vice Chair, Operations and Performance

8 Duke University School of Medicine

9 Department of Anesthesiology

10 Duke University Medical Center

11 Durham, North Carolina

12

13 **Stephen W. Hoag, PhD**

14 Professor

15 Department of Pharmaceutical Science

16 University of Maryland, Baltimore

17 Baltimore, Maryland

18

19 **William A. Humphrey, BPharm, MBA, MS**

20 Director, Pharmacy Operations

21 St. Jude Children's Research Hospital

22 Memphis, Tennessee

1 **Elizabeth Jungman, JD**

2 Director, Public Health Programs

3 The Pew Charitable Trusts

4 Washington, District of Columbia

5

6 **Kuldip R, Patel, PharmD**

7 Associate Chief Pharmacy Officer

8 Duke University Hospital

9 Durham, North Carolina

10

11 **Allen J. Vaida, BSc, PharmD, FASHP**

12 *(Acting Chairperson)*

13 Executive Vice President

14 Institute for Safe Medication Practices

15 Horsham, Pennsylvania

16 **Jurgen Venitz, MD, PhD** (via phone)

17 Professor and Vice Chairman

18 Virginia Commonwealth University

19 School of Pharmacy, Department of Pharmaceutics

20 Richmond, Virginia

21

1 Donna Wall, PharmD

2 *(National Association of Boards of Pharmacy*
3 *Representative)*

4 Clinical Pharmacist

5 Indiana University Hospital

6 Indianapolis, Indiana

7
8 **PHARMACY COMPOUNDING ADVISORY COMMITTEE MEMBERS**

9 **(Non-Voting)**

10 William Mixon, RPh, MS, FIACP

11 *(Industry Representative)*

12 Former Owner

13 The Compounding Pharmacy

14 Hickory, North Carolina

15
16 Christopher J. Smalley, MS, MBA

17 *(Industry Representative)*

18 Compounding Pharmacy Consultant

19 ValSource

20 Downingtown, Pennsylvania

21

22

1 **TEMPORARY MEMBERS (Voting)**

2 **Thomas Chelimsky, MD**

3 *(Participation in alpha lipoic acid, coenzyme Q10,*
4 *and creatine monohydrate discussion)*

5 Tenured Professor of Neurology

6 Medical College of Wisconsin

7 Milwaukee, Wisconsin

8

9 **Marc Gregory Ghany, MD, MHSc, FAASLD**

10 *(Participation in alpha lipoic acid, coenzyme Q10,*
11 *and creatine monohydrate discussion)*

12 Investigator

13 Liver Diseases Branch

14 National Institute of Diabetes and Digestive and

15 Kidney Diseases

16 National Institutes of Health

17 Bethesda, Maryland

18

19

20

21

22

1 Irissanthi (Chris) Ikonomidou, MD, PhD

2 *(Participation in alpha lipoic acid, coenzyme Q10,*
3 *creatine monohydrate, and pyridoxal 5 phosphate*
4 *monohydrate discussion)*

5 Professor of Child Neurology (Tenured)

6 Department of Neurology

7 University of Wisconsin, School of Medicine and

8 Public Health

9 Madison, Wisconsin

10

11 Sandeep Khurana, MBBS

12 *(Participation in alpha lipoic acid, coenzyme Q10,*
13 *and creatine monohydrate discussion)*

14 Medical Director

15 Liver Transplantation

16 Geisinger Health System

17 Danville, Pennsylvania

18

19

20

21

22

1 **Jeanne H. Sun, PharmD**

2 ***(U.S. Pharmacopeia Representative)***

3 Manager, Compounding

4 U.S. Pharmacopeial Convention

5 Rockville, Maryland

6
7 **FDA PARTICIPANTS (Non-Voting)**

8 **Julie Dohm, JD, PhD**

9 Senior Science Advisor for Compounding

10 CDER, FDA

11
12 **Frances Gail Bormel, RPh, JD**

13 Director

14 Division of Prescription Drugs

15 Office of Unapproved Drugs and

16 Labeling Compliance (OUDLC), OC, CDER, FDA

17
18 **Rosilend Lawson, VMD, JD**

19 Lead Regulatory Counsel (Acting)

20 Pharmacy Compounding Advisory Committee Team

21 Division of Prescription Drugs

22 OUDLC, OC, CDER, FDA

1 **Susan Johnson, PharmD, PhD**

2 Associate Director

3 ODE IV, OND, CDER, FDA

4

5 **Ruey Ju, JD, PharmD**

6 Senior Advisor for Compounding

7 Office of Compliance (OC), CDER, FDA

8

9 **Sara Rothman, MPH**

10 Senior Policy Advisor

11 OUDLC, OC, CDER, FDA

12

13 **Charles Ganley, MD**

14 Director

15 Office of Drug Evaluation IV (ODE IV)

16 Office of New Drugs (OND), CDER, FDA

17

18

19

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Michael Brave, MD

(Morning Session Only)

Medical Officer

Division of Oncology Products I

Office of Hematology and Oncology Products

OND, CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Allen Vaida, BSc, PharmD	13
5	Conflict of Interest Statement	
6	Jay Fajiculay, PharmD	20
7	FDA Introductory Remarks	
8	Julie Dohm, JD, PhD	26
9	FDA Presentation	
10	Compounding Updates and Review	
11	Sara Rothman, MPH	30
12	Clarifying Questions from the Committee	61
13	SECTION 503A BULK DRUG SUBSTANCES LIST	
14	FDA Presentation	
15	Alpha lipoic acid	
16	Michael Brave, MD	77
17	Clarifying Questions from the Committee	88
18	Nominator Presentation	
19	Arthur Berkson, MD	100
20	Clarifying Questions from the Committee	114
21		
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	Open Public Hearing	131
4	Committee Discussion and Vote	149
5	FDA Presentation	
6	Coenzyme Q10	
7	Susan Johnson, PharmD, PhD	166
8	Clarifying Questions from the Committee	177
9	Nominator Presentation	
10	A.J. Day, PharmD	178
11	Clarifying Questions from the Committee	191
12	Open Public Hearing	205
13	Committee Discussion and Vote	211
14	Adjournment	215
15		
16		
17		
18		
19		
20		
21		
22		

P R O C E E D I N G S

(8:18 a.m.)

Call to Order

Introduction of Committee

1 DR. VAIDA: Good morning. Sorry for the
2 late start here. We'll try to make up a little time
3 here during the day. I'd first like to remind
4 everyone to please silence your cell phones and any
5 other devices if you have not already done so. And
6 I would also like to identify the FDA press contact
7 for this open session meeting, Mr. Jeremy Kahn. If
8 you're present please stand.

9 Good morning. My name is Dr. Allen Vaida.
10 I'm the acting chairperson for today's meeting of
11 the Pharmacy Compounding Advisory Committee,
12 otherwise referred to PCAC. I will now call the
13 committee to order. We will now ask those at the
14 table, including the FDA staff and committee
15 members, to introduce themselves, starting with the
16 FDA to my far left and moving along to the right
17 side.

18 Hello. My name is Julie Dohm, and I'm the
19
20
21
22

1 agency lead on compounding, also known as the
2 senior science advisor for compounding within the
3 Center for Drug Evaluation and Research.

4 MR. JU: Good morning. My name is Ray Ju.
5 I'm the senior advisor for compound in CDER.

6 DR. BORMEL: My name is Gail Bormel. I'm in
7 the Office of Unapproved Drugs and Labeling
8 Compliance, Division of Prescription Drugs.

9 DR. ROTHMAN: Good morning. I'm Sara
10 Rothman. I'm senior policy advisor in the Office
11 of Unapproved Drugs and Labeling Compliance in
12 CDER's Office of Compliance.

13 DR. LAWSON: Good morning. I'm Rosilend
14 Lawson. I'm also in the Office of Unapproved Drugs
15 and Labeling Compliance in CDER.

16 DR. GANLEY: I'm Charley Ganley. I'm an
17 office director in the Office of New Drugs in CDER.

18 DR. BRAVE: I'm Michael Brave. I'm a
19 clinical reviewer.

20 DR. HOAG: Steve Hoag. I'm a professor at
21 the University of Maryland School of Pharmacy.

22 DR. HUMPHREY: William Humphrey, director of

1 pharmacy operations at St. Jude Children's Research
2 Hospital.

3 DR. PATEL: Kuldip Patel, associate chief
4 pharmacy officer at Duke University Hospital
5 representing hospitals and health system pharmacy.

6 DR. FAJICULAY: Jay Fajiculay, acting
7 designated federal officer for the Pharmacy
8 Compounding Advisory Committee, FDA.

9 DR. VAIDA: Allen Vaida, a pharmacist and
10 executive vice president at the Institute for Safe
11 Medication Practices.

12 DR. BOGNER: Robin Bogner, professor,
13 University of Connecticut.

14 DR. CAROME: Mike Carome. I'm the director
15 of Public Citizens Health Research Group, and I'm
16 the consumer representative.

17 DR. WALL: I'm Donna Wall. I'm a clinical
18 pharmacist, but I represent an NABP on this
19 committee.

20 DR. JUNGMAN: Elizabeth Jungman. I direct
21 public health programs at The Pew Charitable
22 Trusts.

1 DR. DESAI: Seemal Desai. I'm a
2 dermatologist and clinical faculty at the
3 University of Texas Southwestern in Dallas.

4 DR. SUN: I'm Jeanne Sun, manager at United
5 States Pharmacopeia.

6 DR. IKONOMIDOU: Good morning. I'm Chris
7 Ikonomidou. I'm a professor of child neurology at
8 the University of Wisconsin in Madison.

9 MS. KHURANA: Sandeep Khurana, medical
10 director of liver transplant, Geisinger Health Care
11 System.

12 DR. CHELIMSKY: Tom Chelimsky, a
13 neurologist, Medical College of Wisconsin. It
14 looks like we have a Wisconsin unanimity for
15 neurology.

16 DR. GHANY: Good morning. I'm Marc Ghany.
17 I'm a hepatologist in the liver diseases branch,
18 NIDDK, National Institutes of Health.

19 MR. SMALLEY: Hello. I'm Chris Smalley,
20 pharmacist and industry representative.

21 MR. MIXON: Bill Mixon, former owner of the
22 compounding pharmacy in Hickory, North Carolina,

1 nonvoting industry member.

2 DR. VAIDA: Thank you. I'll now call the
3 meeting to order and read the opening statement.

4 For topics such as those discussed at
5 today's meeting, there are often a variety of
6 opinions, some of which are quite strongly held.
7 Our goal is that today's meeting will be a fair and
8 open forum for discussion of these issues and that
9 individuals can express their views without
10 interruption. Thus, as a reminder, individuals
11 will be allowed to speak into the record only if
12 they're recognized by the chair. We look forward
13 to a productive meeting.

14 In the spirit of the Federal Advisory
15 Committee Act and the Government in the Sunshine
16 Act, we asked that the advisory committee members
17 take care that their conversations about the topic
18 at hand take place in the open forum of the
19 meeting. We are aware that members of the media
20 may be anxious to speak with FDA about these
21 proceedings, however, FDA will refrain from
22 discussing the details of this meeting with the

1 media until its conclusion. Also, the committee is
2 reminded to please refrain from discussing the
3 meeting topic during breaks or lunch.

4 Today, we will receive updates on certain
5 issues to follow up on discussions from previous
6 meetings, including balancing criteria for the 503A
7 bulk substance evaluation, dietary supplements, and
8 recently issued FDA guidances. We will also cover
9 5 bulk drug substances nominated to compounded
10 drugs in accordance with Section 503A of the Food,
11 Drug, and Cosmetic Act: alpha lipoic acid;
12 coenzyme Q10; creatine monohydrate; pyridoxal
13 5 phosphate; and quercetin dihydrate.

14 For each of these 5 substances, we will hear
15 presentations from FDA; ask clarifying questions;
16 hear nominators' presentations; again ask
17 clarifying questions; hold an open public hearing;
18 and have committee discussion and voting. As
19 described in the July 24, 2018 Federal Register
20 Notice, the committee will be discussing 6 bulk
21 substances, but one drug substance product,
22 chlorine chloride, has since been removed from this

1 list and will not be discussed today.

2 The Federal Register Notice identified the
3 uses FDA reviewed for each of the 5 bulk drug
4 substances being discussed at this meeting. These
5 uses reflect those for which adequate support was
6 provided in the nomination. In addition, the
7 nominations and FDA reviews of the bulk substances,
8 which are included in the briefing document posted
9 on FDA's website identify the proposed and reviewed
10 uses, dosage forms, and routes of administration.

11 The nominators of these substances have been
12 invited to make a short presentation supporting
13 their nomination. To the extent that the
14 nominators; presentations include information about
15 additional uses, dosage forms, and routes of
16 administration, I remind the committee that these
17 additional uses, dosage forms, and routes of
18 administration are not part of the agency's review
19 because the nominators either did not nominate
20 those uses, dosage forms, and routes of
21 administration, or they were not adequately
22 supported.

1 We will begin now, and I'll turn this over
2 to Dr. Jay Fajiculay to read the Conflict of
3 Interest Statement.

4 DR. FAJICULAY: Before I read the Conflict
5 of Interest Statement, we have two participants on
6 the phone. Can you please introduce yourselves?

7 DR. VENITZ: This is Jurgen Venitz, clinical
8 pharmacologist and professor at Virginia
9 Commonwealth University.

10 DR. FAJICULAY: Dr. Gulur?

11 (No response.)

12 **Conflict of Interest Statement**

13 DR. FAJICULAY: Okay. I'll proceed with the
14 Conflict of Interest Statement.

15 The Food and Drug Administration is
16 convening today's meeting of the Pharmacy
17 Compounding Advisory Committee under the authority
18 of the Federal Advisory Committee Act of 1972.
19 With the exception of the National Association of
20 Boards of Pharmacy, the U.S. Pharmacopeia, and of
21 the industry representatives, all members and
22 temporary voting members of the committee are

1 special government employees or regular federal
2 employees from other agencies and are subject to
3 federal conflict of interest laws and regulations.

4 The following information on the status of
5 this committee's compliance with federal ethics and
6 conflict of interest laws, covered by but not
7 limited to those found at 18 USC Section 208, is
8 being provided to participants in today's meeting
9 and to the public. FDA has determined that members
10 and temporary voting members of this committee are
11 in compliance with federal ethics and conflict of
12 interest laws.

13 Under 18 USC Section 208, Congress has
14 authorized FDA to grant waivers to special
15 government employees and regular federal employees
16 who have potential financial conflicts when it is
17 determined that the agency's need for a special
18 government employee's services outweighs his or her
19 potential financial conflicts of interests or when
20 the interests of a regular federal employee is not
21 so substantial as to be deemed likely to affect the
22 integrity of the services which the government may

1 expect from the employee.

2 Related to the discussion at today's
3 meetings, members and temporary voting members of
4 this committee have been screened for potential
5 financial conflicts of interest of their own as
6 well as those imputed to them, including those of
7 their spouses and minor children, and for purposes
8 of 18 USC Section 208, their employers. These
9 interests may include investments; consulting;
10 expert witness testimony; contracts, grants,
11 CRADAs; teaching, speaking, writing; patents and
12 royalties; and primary employment.

13 During this meeting, the committee will
14 receive information on the following two issues to
15 follow up on discussions from previous PCAC
16 meetings: balancing the criteria for a 503A bulk
17 drug substance evaluation and compounding as it
18 relates to dietary supplements.

19 In addition, the committee will discuss
20 5 bulk drug substances nominated for inclusion in
21 the Section 503A bulks list. FDA will discuss the
22 following nominated bulk drug substances and the

1 uses FDA reviewed: alpha lipoic acid for diabetic
2 neuropathy and associated pain, acute liver
3 toxicity from Amanita species mushroom poisoning,
4 and other toxins, hepatitis C, cancer, cirrhosis,
5 fibromyalgia, and muscle pain; coenzyme Q10 for
6 mitochondrial disorders; creatine monohydrate for
7 mitochondrial disorders; pyridoxal 5 phosphate for
8 epilepsy and seizure disorders; and quercetin
9 dihydrate for asthma, allergy, cancer prevention
10 and treatment, and hypertension.

11 The nominators of these substances will be
12 invited to make a short presentation supporting the
13 nomination. This is a particular matters meeting
14 during which specific matters related to the 5 bulk
15 drug substances will be discussed.

16 Based on the agenda for today's meeting and
17 all financial interests reported by committee
18 members and temporary voting members, a conflict of
19 interest waiver has been issued in accordance with
20 18 USC Section 208(b)(3) to Dr. Stephen Hoag.
21 Dr. Hoag's waiver involves his stock holdings in
22 three competing firms. The aggregate value of his

1 stock holdings is between \$100,001 and \$300,000.

2 The waiver allows this individual to
3 participate fully in today's deliberations. FDA's
4 reasons for issuing the waiver are described in the
5 waiver document, which are posted at FDA's website
6 at www.fda.gov/advisorycommittees/committeemeeting
7 [materials/drugs/default.htm](http://www.fda.gov/advisorycommittees/committeemeeting). Copies of the waiver
8 may also be obtained by submitting a written
9 request to the agency's Freedom of Information
10 Division at 5630 Fishers Lane, Room 1035, in
11 Rockville, Maryland, 20857, or a request may be
12 sent via fax to 301-827-9267.

13 To ensure transparency, we encourage all
14 standing committee members and temporary voting
15 members to disclose any public statements they have
16 made concerning the bulk drug substances. We'd
17 also like to note that Dr. Donna Wall is a
18 representative member from the National Association
19 of Boards of Pharmacy and that Jeanne Sun is a
20 representative member from the United States
21 Pharmacopeia.

22 Section 102 of the Drug Quality and Security

1 Act amended the Federal Food, Drug, and Cosmetic
2 Act, with respect to the Advisory Committee on
3 Compounding, to include representatives from the
4 NABP and USP. Their role is to provide the
5 committee with the points of view of the NABP and
6 USP. Unlike the other members of the committee,
7 representative members are not appointed to the
8 committee to provide their own individual
9 judgment -- [break in audio] -- matters before the
10 advisory committee.

11 With respect to FDA's invited industry
12 representatives, we would like to disclose that Mr.
13 Christopher Smalley and Mr. William Mixon are
14 participating in this meeting as nonvoting industry
15 representatives, acting on behalf of regulated
16 industry. Their role at this meeting is to
17 represent industry in general and not any
18 particular company. Mr. Smalley is employed by
19 ValSource, Incorporated and Mr. Mixon is employed
20 by the Compounding Pharmacy.

21 We would like to remind members and
22 temporary voting members that if the discussions

1 involve any other bulk drug substances not already
2 on the agenda for which an FDA participant has a
3 personal or imputed financial interest, the
4 participants need to exclude themselves from such
5 involvement, and their exclusion will be noted for
6 the record.

7 FDA encourages all other participants to
8 advise the committee of any financial relationships
9 that they may have with the topic at issue that
10 could be affected by the committee's discussions.
11 Thank you.

12 DR. VAIDA: Thank you. I'd like to remind
13 public observers at this meeting that while this
14 meeting is open for public observation, public
15 attendees may not participate except at the
16 specific request of the committee. We will now
17 proceed with FDA introductory remarks from
18 Dr. Julie Dohm.

19 **FDA Introductory Remarks - Julie Dohm**

20 DR. DOHM: Thank you, Dr. Vaida. And
21 again, good morning to everyone. I am Julie Dohm,
22 the agency lead on compounding, and I'd like to

1 welcome you to the ninth meeting of the Pharmacy
2 Compounding Advisory Committee.

3 Today, as you heard, we will discuss 5 bulk
4 drug substances nominated for inclusion on the list
5 of bulk drug substances that can be used in
6 compounding under Section 503A. They are alpha
7 lipoic acid; coenzyme Q10; creatine monohydrate;
8 pyridoxal 5 phosphate; and quercetin dihydrate.

9 Please note, we will not discuss choline
10 chloride at this meeting, even though it was
11 included in the Federal Register notice published
12 in July. We intend to discuss choline chloride at
13 a later meeting.

14 As in the November meeting, we have
15 scheduled time for the nominators to speak and time
16 for an open public hearing after each topic. I
17 would also like to use this opportunity to provide
18 you with an update on our policy development since
19 the committee last met in November.

20 In January, FDA published a final guidance
21 concerning compounded drug products that are
22 essentially copies of a commercially available or

1 approved drug products under Section 503A and 503B
2 of the Federal Food, Drug, and Cosmetic Act. FDA
3 also published a final guidance concerning mixing,
4 diluting, or repackaging biological products
5 licensed under Section 351 of the Public Health
6 Service Act.

7 In March, FDA published the draft guidance
8 concerning the evaluation of bulk drug substances
9 nominated for use in compounding under Section
10 503B. This guidance addresses FDA's policies for
11 developing the list of bulk drug substances that
12 may be used in compounding by outsourcing
13 facilities under Section 503B, including the
14 agency's interpretation of the phrase "bulk drug
15 substance for which there is a clinical need." You
16 will hear more about this draft guidance shortly.

17 In May, FDA published a final guidance
18 concerning the definition of facility under Section
19 503B. This guidance provides the agency's current
20 thinking on issues such as whether multiple suites
21 used for compounding human drugs at a single street
22 address constitute one or multiple facilities, or

1 whether a single location where human drugs are
2 compounded can be subdivided into separate
3 operations compounding under different standards.

4 In August, FDA published a Federal Register
5 notice concerning the list of bulk drug substances
6 for which there is a clinical need under Section
7 503B. Drug products that outsourcing facilities
8 compound using bulk drug substances on the 503B
9 bulks list can qualify for certain exemptions from
10 the Federal Food, Drug, and Cosmetic Act.

11 This notice identifies 3 bulk drug
12 substances that FDA has considered and is proposing
13 not to include on that list: bumetanide,
14 nicardipine hydrochloride, and vasopressin. You
15 will also hear more about this Federal Register
16 notice this morning.

17 Finally, last week, FDA issued a revised
18 draft memorandum of understanding, or MOU, between
19 the FDA and the states. Section 503A directs the
20 FDA to develop an MOU with the states, addressing
21 distribution of inordinate amounts of compounded
22 drugs interstate by compounders operating under

1 503A. The MOU also cover states' investigations of
2 complaints associated with compounded drugs
3 distributed out of state.

4 FDA's policy documents, including the five
5 guidances and Federal Register notice that I just
6 discussed, appear on the FDA's compounding website
7 under the section titled, Regulatory Policy
8 Information. Again, thank you for your
9 participation on the Pharmacy Compounding Advisory
10 Committee. We look forward to a productive meeting
11 and to continuing our work together. Thank you.

12 DR. VAIDA: Thank you.

13 We'll now proceed with an FDA presentation
14 on compounding updates and review from Ms. Sara
15 Rothman.

16 **FDA Presentation - Sara Rothman**

17 MS. ROTHMAN: Good morning, everyone.
18 Again, my name is Sara Rothman. I'm going to go
19 over about three different topics that are related
20 subtly, but different categories of topics. The
21 first is going to be policy updates.

22 As Julie mentioned, we've issued a number of

1 policy documents since our last meeting, and we
2 could spend the entire meeting just talking about
3 those. I thought the documents that would be of
4 particular interest to the committee would be those
5 pertaining to bulk drug substances under
6 Section 503B. So I'll talk a little bit about our
7 draft guidance on that topic, as well as a Federal
8 Register notice that we recently issued.

9 At the last committee meeting, there was a
10 request that we review how to balance the criteria
11 that we've set forth for determining whether to
12 include a bulk drug substance from the 503A bulks
13 list. I think this will be a review to many of the
14 veteran members, but perhaps new to some of the
15 newer members.

16 Finally, dietary ingredients used in
17 compounding. This has come up in multiple
18 committees, again, a likely review for many of you,
19 but may be of particular interest to some of the
20 new members because this issue does arise in
21 multiple contexts.

22 So beginning with a recent policy

1 development on 503B bulks, I think it will be
2 helpful to start out with the statutory framework.
3 The framework for bulk drug substances that can be
4 used in compounding under Section 503B is very
5 different from Section 503A. And in fact, all of
6 the conditions of Section 503B, or most of them,
7 are quite different and may not be familiar to
8 everyone.

9 To back up even further, when the DQSA, the
10 Drug Quality and Security Act, was enacted in 2013,
11 Congress amended Section 503A, which is the subject
12 of most of our discussions during these committee
13 meetings, and created a new section, 503B, that
14 created a new type of compounder, called an
15 outsourcing facility.

16 Outsourcing facilities are subject to a
17 number of conditions, but primarily, if an
18 outsourcing facility meets all of those conditions,
19 then the drugs that are compounded are exempt from
20 certain requirements of the Act.

21 Like Section 503A compounders, the drugs can
22 be exempt from Section 505 concerning new drug

1 approval requirements. Similarly, labeling with
2 adequate directions for use under Section 502(f)(1)
3 and also supply chain security requirements under
4 Section 582, and that's different. And what's
5 particularly noteworthy here is that outsourcing
6 facilities are subject to CGMP, current good
7 manufacturing practice requirements, while 503A's
8 are not.

9 Another key difference that I think is
10 important to point out is that your 503A facility,
11 which are state-licensed pharmacies, federal
12 facilities, physicians, have to compound drugs
13 pursuant to patient-specific prescriptions, and
14 that's in the law. Outsourcing facilities are not
15 bound by that requirement.

16 So there are a number of differences between
17 the two entities. Congress, I think because of
18 those differences, decided to impose different
19 conditions relating to the use of bulk drug
20 substances.

21 Under Section 503B, one of the conditions
22 that has to be met for a drug to be eligible for

1 the exemptions that I just went over is that the
2 outsourcing facility does not compound using bulk
3 drug substances unless one of two criteria are met.
4 The first is the bulk drug substance appears on a
5 list developed by FDA of substances for which
6 there's a clinical need, or two, the bulk drug
7 substances used to compound a drug that appears in
8 FDA's drug shortage list at the time of
9 compounding, distribution, and dispensing.

10 There are additional conditions pertaining
11 to use of bulk drug substances.

12 (Pause.)

13 MS. ROTHMAN: While we're sorting out the
14 technical issues, additional conditions for your
15 background that are important on both bulk drug
16 substances using compounding under 503B are that
17 there doesn't have to be USP, United States
18 Pharmacopeia, or National Formulary monograph.

19 DR. VAIDA: We're going to take just a 2 or
20 3-minute break while we have to switch computers.

21 (Pause.)

22 MS. ROTHMAN: There are some additional

1 conditions in Section 503B that apply to bulk drug
2 substances. There doesn't have to be a United
3 States Pharmacopeia or National Formulary Monograph
4 for an outsourcing facility to use a bulk drug
5 substance in compounding. But if there is such a
6 monograph, the outsourcing facility has to comply
7 with that monograph.

8 The bulk drug substances have to be
9 manufactured in a facility registered with FDA
10 under Section 510 of our Act, which is registration
11 requirements for manufacturers, packagers, and
12 distributors; and the bulk drug substance has to be
13 accompanied by a valid certificate of analysis.
14 These are actually conditions in Section 503A as
15 well.

16 Moving on to our process for developing the
17 503B bulks list, similar to but diverges from the
18 process for Section 503A because of the differences
19 and the statutory frameworks, in 2013, we opened up
20 a docket a few days after DQSA was passed, this
21 list of nominations for bulk drug substances for
22 both the 503A bulks list and a separate request for

1 the 503B bulks list.

2 We decided that we needed to issue in 2014
3 another Federal Register notice clarifying the
4 information, that we needed to be able to do even a
5 basic review of these substances. So we opened up
6 a new docket in 2014 and issued a new Federal
7 Register notice requesting nominations.

8 That docket closed, the comment period
9 closed, and we received feedback from stakeholders
10 that there might be substances that they hadn't
11 previously nominated that might be important for
12 patient care. So in 2015, we opened up another
13 docket to provide stakeholders with additional
14 opportunity to nominate bulk drug substances, and
15 that docket remains open. We did that again for
16 503A and a separate one for 503B.

17 At this stage -- or the next stage, I should
18 say, we have a number of nominations. The
19 statutory standard under Section 503B for including
20 a bulk drug substance on the list, clinical need,
21 is different from 503A. So we're reviewing the
22 bulk drug substances to assess whether we

1 preliminarily think that there's a clinical need to
2 use them in compounding.

3 I say preliminarily because the process set
4 forth in the statute is a notice and comment
5 process. We issue by Federal Register notice,
6 which is what the statute prescribes; a proposal
7 for prospective substances. The statute says we
8 need to put out those proposals for a public common
9 period of 60 days or more. And then after that,
10 after considering the public comments, we'll
11 publish again in the Federal Register our final
12 determinations regarding the substances.

13 Once we make those final determinations, the
14 substance will either appear on the list and be
15 eligible for use in compounding under 503B, or not
16 appear on the list and not be eligible for use in
17 compounding unless of course it's to make a drug in
18 shortage.

19 I should note that the requirements
20 pertaining to consultation with the advisory
21 committee also differ. Under Section 503B, there
22 is no such requirement, but we intend to convene

1 the committee and review bulk drug substances if
2 during the course of our review or review of the
3 comments, we think that doing so will be helpful to
4 the agency's decision-making or particularly
5 helpful for particular substances.

6 So with that background, I'll talk a little
7 bit about our draft guidance that we issued.

8 (Pause.)

9 DR. FAJICULAY: We apologize for the issues,
10 the technical difficulties. For right now, we're
11 going to have the presenter just continue on. For
12 those of you who do not have the copies of the
13 handouts, they are available outside. We're just
14 going to follow along with the handouts, if that's
15 okay.

16 MS. ROTHMAN: Do you all have page numbers,
17 slide numbers, numbers on your -- okay, great. So
18 I'll be sure to note the slide number.

19 Slide 8, we issued a draft guidance in
20 March, evaluation of bulk drug substances nominated
21 for use in compounding under 503B. The purpose of
22 this guidance is to describe the factors that we

1 intend to consider and the process by which we
2 intend to consider bulk drug substances for
3 inclusion on the 503B bulks list, again, applying
4 the clinical needs standard and the statutes.

5 Slide 9 -- and again, I'll preface this by
6 saying this is all draft guidance. We received
7 public comments on the draft guidance and are
8 working through them as we work on the guidance.
9 So these are our proposed policies.

10 We say that we would include, or we would
11 consider including, a bulk drug substance on the
12 503B bulks list if, 1) there is a clinical need for
13 an outsourcing facility to compound the drug; and
14 2) a drug must be compounded using the bulk drug
15 substance. And that's our proposed interpretation
16 of the clinical needs standard under Section 503B.

17 On slide 10, we go through a two-part
18 analysis or we propose to use a two-part analysis
19 to evaluate nominated bulk drug substances. We
20 have part 1 and part 2. Part 1 applies only to
21 bulk drug substances that are components of
22 FDA-approved drug products. Part 2 applies to such

1 bulk drug substances that proceed through the part
2 1 analysis, as well as bulk drug substances that
3 are not components of FDA-approved drug products.

4 Slide 11, a little bit about part 1. We
5 call it a threshold review for components of
6 FDA-approved drugs. And we ask two questions with
7 a couple of sub-questions.

8 The first question, is there a basis to
9 conclude, for each FDA-approved drug that includes
10 a nominated bulk drug substance, that, 1) an
11 attribute of the FDA-approved drug makes it
12 medically and unsuitable to treat certain patients
13 with the condition identified for review; and,
14 2) is a drug proposed to be compounded intended to
15 treat that attribute?

16 So essentially, why do you need a compounded
17 drug? Why can't you just use the approved drug?

18 Subsection B, is there a basis to conclude
19 that the drug proposed to be compounded must be
20 compounded from a bulk drug substance rather than
21 an FDA-approved drug? So not only do we ask why do
22 you need a compounded drug; we also ask if you need

1 a compounded drug, why does it need to be
2 compounded using a bulk drug substance? That's
3 part 1.

4 Under part 2, you'll see that the facts I
5 think will be very familiar to you because they're
6 very similar to the criteria that we use under
7 503A. We're proposing to look at the physical and
8 chemical characterization of the substance, any
9 safety issues, available evidence concerning
10 efficacy or lack of efficacy if of course such
11 evidence exists, as well as current and historical
12 use.

13 You'll see the word "current" there that's
14 not in 503A. And we thought that was important to
15 include explicitly, whereas it might be implicit
16 elsewhere, and we'll talk a little bit about that.

17 Slide 13, we recently entered into
18 collaborative agreements with two universities,
19 University of Maryland and Johns Hopkins
20 University. Both universities house what are
21 called CERSIs, Centers for Excellence and
22 Regulatory Science Innovation. Pursuant to these

1 agreements, these collaborative agreements, the
2 University of Maryland is going to be doing some
3 work looking into how the bulk drug substances
4 nominated for 503B are being used in clinical
5 practice. And that goes directly to the current
6 use that we're considering.

7 We received a number of comments on the
8 draft guidance that I discussed suggesting that
9 it's important that we consult with practitioners
10 to understand how these substances are being used.
11 And of course, the standard in Section 503B is
12 clinical need. So we think that this agreement
13 will yield a lot of really great information that
14 will inform our review.

15 For Johns Hopkins, they're doing a more
16 specific evaluation for us. They're looking at
17 substances nominated or used to treat autism
18 spectrum disorder, and they're going to be looking
19 at information not only about how the substances
20 are used in clinical practice, but also about
21 safety and effectiveness and information out there
22 about that use.

1 Those are two agreements that are underway
2 that we're very excited about, and we think that
3 they'll really help, as we say on the slide, our
4 regulatory decision-making, as well as providing
5 more information to the public about how these are
6 used.

7 That's a very high-level overview of the
8 guidance, as well as our CERSI endeavor. Next,
9 I'll talk about the Federal Register notice issued
10 recently to begin to establish the list of bulk
11 drug substances under Section 503B.

12 Moving on to slide 15, as I mentioned, under
13 the statute, we have to provide our proposals of
14 bulk drug substances that we intend to include on
15 the list through the notice and comment Federal
16 Register process. We decided that it's important
17 to also do so for substances that we're deciding
18 not to put on the list, to go through that process
19 and receive public comment.

20 We issued a proposal, as Julie mentioned
21 during her opening remarks earlier, concerning
22 bumetanide, nicardipine hydrochloride, and

1 vasopressin. All three substances are components
2 of FDA-approved drug products, so we
3 evaluated -- under the framework that we proposed,
4 we considered whether there is a basis to conclude
5 that there's something about the approved drug that
6 makes it medically and unsuitable for a patient.
7 And if there is, whether there's a basis to
8 conclude that it must be compounded using the bulk
9 drug substance.

10 We reviewed the nominations and found that
11 they didn't include any information indicating why
12 the FDA-approved drugs containing substances could
13 not either be used or adapted instead of
14 compounding the drugs using the bulk drug
15 substances -- or why you had to compound using the
16 bulk drug substances rather than using the approved
17 drug or adapting the FDA-approved drug.

18 There wasn't information saying that that's
19 something that had to be done, so we looked at this
20 with the nominations and the context of, again, the
21 statutory standard of clinical need. And based on
22 that standard, we proposed in the Federal Register

1 notice that there is no clinical need to compound
2 using these bulk drug substances. Pursuant to the
3 statute, we put out a 60-day public comment period.
4 And I believe that closes on October 29, 2018. So
5 I hope we receive robust comments on that proposal,
6 and we look forward to reviewing those and
7 considering them when the comment period concludes.

8 I know we're running late, so I'm going to
9 go very quickly through the next couple of slides,
10 slide 17 and slide 18, I won't read them. Julie
11 mentioned some of these, but there have been a
12 number of other policy documents, really important
13 policy documents and our view that we've issued
14 since the last advisory committee meeting.

15 In January, the commissioner put out his
16 priorities for compounding in 2018, so there are a
17 number of policies on slide 18 that are underway
18 that we expect to complete in 2018 pursuant to that
19 plan that the commissioner issued.

20 Moving on to slide 19, balancing the
21 criteria. Again, I think this will be very
22 familiar to those of you who are veterans to the

1 committee because you've all been doing this for
2 the last several years. But I think, hopefully, an
3 overview would be helpful to the newer members.

4 Slide 20, 503A of the statute actually gives
5 us guidance on this, a little bit of guidance at
6 least. Section 503A says the criteria for
7 determining what's going to appear on the list has
8 to include historical use, reports and
9 peer-reviewed medical literature, or other criteria
10 that the FDA may identify.

11 In consultation with the advisory committee,
12 we discussed this at the first meeting, and we've
13 proposed, in a proposed regulation, four criteria,
14 and those appear on slide 21: physical and
15 chemical characterization; safety issues;
16 effectiveness information; and historical use of
17 the bulk drug substance in compounding.

18 Those are the criteria that you all have
19 been applying when you're reviewing these bulk drug
20 substances and deciding what advice to provide to
21 the agency. And these are also the criteria that
22 FDA has been considering when deciding whether to

1 propose in rulemaking, to include or not to include
2 a bulk drug substance on the 503A list.

3 Going into a little bit more depth,
4 slide 22, physical and chemical characterization,
5 is the substance well characterized physically and
6 chemically such that it's appropriate for use in
7 compounding?

8 Obviously, there are consequences if the
9 substance is not well characterized. As we say in
10 slide 22, there can be no assurance that its
11 properties and toxicities, when using compounding,
12 would be the same as the properties and toxicities
13 reported in the literature and considered by FDA.
14 So that's an important consideration.

15 I'm going to go through this a little bit
16 fast because I know we're running behind.
17 Slide 23, safety, are there any safety issues
18 associated with the substance? Some of our
19 considerations when we're looking at safety appear
20 on this slide. I want to emphasize, where there
21 have been questions in the past, is, well, how do
22 you weigh this and what happens when there is FDA

1 approval alternatives? How does that factor into
2 your analysis?

3 What we say is that we consider the
4 availability of approval alternatives in the
5 context of evaluating safety and in the context of
6 weighing this criterion. When we say the risks of
7 using a substance with significant toxicity is
8 likely to outweigh the benefits when the approved
9 alternative therapies are available. So that's one
10 of the ways that we weigh this factor or take into
11 consideration alternatives.

12 Slide 24, effectiveness, is there
13 information of efficacy? Often there is very
14 minimal information about efficacy because the
15 substances that we're reviewing are components of
16 FDA-approved drugs. I probably should have paused
17 in the transition and emphasized that we're now
18 moving to the 503A discussion, whereas before, I
19 was talking about 503B.

20 503B, you have some that are components that
21 are approved drugs and some that aren't. 503A, the
22 nature of the statutory framework is such that the

1 only substance that will be considered by this
2 committee are substances that are not components of
3 FDA-approved drugs because if you are a component
4 of an FDA-approved drug, you can automatically be
5 used in compounding under 503A without going
6 through this process.

7 Effectiveness, when we look at whether
8 there's information available, we look at, for
9 example, reports and peer-reviewed medical
10 literature and any other information identified in
11 the nominations.

12 Slide 25 goes to weighing this factor. Of
13 course, if the proposals treat a less serious
14 illness, we may be more concerned about information
15 of safety issues than efficacy issues. But as you
16 can imagine, if it's intended to treat a serious or
17 life-threatening illness, efficacy will be
18 particularly important.

19 So the weight that we give to safety versus
20 efficacy in this context will necessarily differ,
21 depending on the facts of a particular situation
22 and what we're looking at in the nature of the

1 diseases that the nominators are proposing to
2 treat, or other diseases that we look at.

3 Again, the way the alternative therapies
4 play into this analysis, when there are approved
5 alternatives and there's very little effectiveness
6 data, if any, the fact that there are approved
7 alternatives may inform how we weigh the efficacy
8 analysis because if there's information that the
9 substance is not effective and there's no approved
10 drug, you can imagine that would be an important
11 consideration.

12 Lastly, slide 26, historical use of the
13 substance in compounding, we look at the length of
14 time the substance has been used to treat medical
15 conditions, how widespread it's used, references,
16 and other pharmacopeias or medical literature. And
17 of course if it's enjoyed widespread and used in
18 compounding over a long period of time, this factor
19 might weigh in favor of including the bulk drug
20 substance on the list.

21 Often, there's very little information to us
22 about how this substance has been used

1 historically. So with all of these criteria that
2 we review, it's just really important to remember
3 this is not the same as the standard for the FDA
4 approval process.

5 We don't have the same information available
6 to us. And with historical use, as with the other
7 criteria, we use the information that we can
8 find -- that the nominator or supplier, that we can
9 find ourselves, to inform our proposals to include
10 these on the list or to not include them.

11 Slide 27, just an important reminder that
12 these criteria are comprised of balancing tests, so
13 no one criterion is dispositive. We consider the
14 criteria in the context of each of them; in the
15 context of the drug that we're reviewing; in the
16 context of the diseases that it's being proposed to
17 treat; and the information available to us. So it
18 really is a balancing test that we apply.

19 The final topic, slide 28, dietary
20 ingredients nominated for use under Section 503A.
21 Many of you are familiar with why this is an issue
22 that we're discussing today. But just to give some

1 of the newer members a little bit more context, one
2 of the ways that you can use a bulk drug substance
3 under Section 503A -- without going through the
4 advisory committee process, without the USP
5 consultation process as well, and without appearing
6 on a list of bulk drug [indiscernible]
7 regulation -- is if the bulk drug substance is the
8 subject of an applicable USP or national formulary
9 monograph.

10 So there have been questions about what the
11 word "applicable" means. And we've said, as I'll
12 discuss in more depth going through the slides,
13 that that means a drug substance monograph because
14 these are drugs that you're producing, and when you
15 use a bulk drug substance to create a drug, it's a
16 drug. So the applicable monographs are for drug
17 monographs.

18 Some folks have suggested that USP dietary
19 supplement monographs should be considered
20 applicable monographs for purpose of this
21 provision. I'm going to walk through why we've
22 opted to adopt the first approach that I mentioned,

1 that these are drugs, and the USP drug monograph is
2 the appropriate one.

3 Beginning with an overview of how dietary
4 supplements are regulated, slide 30, I'll just
5 start with the caveat that I'm not an expert in
6 this piece, so we have someone here from our Office
7 of Dietary Supplements who can answer questions, if
8 they arise, about the regulation of dietary
9 supplements, generally.

10 To give a very brief overview, slide 30
11 under Section 201(ff) of the Act, a supplement is
12 one that's intended to supplement the diet,
13 contains at least one dietary ingredient, and is
14 intended for ingestion -- and I'll emphasize that
15 point because it becomes very important that the
16 only way something can be a dietary supplement is
17 that if it's intended for ingestion -- it's not
18 represented as a conventional food or a meal
19 replacement or to replace the entire diet; labeled
20 as a dietary supplement; and also certain articles
21 approved as new drugs or investigation of a new
22 drug application are not permitted to be dietary

1 supplements.

2 So, bottom line, there are a number of
3 statutory restrictions on what can be considered a
4 dietary supplement.

5 Slide 31, as I mentioned, this is very
6 important that dietary supplements are intended for
7 oral ingestion only. If you take, for example, a
8 dietary supplement or an ingredient that's a
9 subject of a USP dietary supplement monograph and
10 not subject to a drug monograph, and you make a
11 compounded drug for intravenous injection, that's a
12 drug.

13 It doesn't matter that it contains dietary
14 ingredients or substances that are often considered
15 to be dietary supplements. You're creating a drug
16 because of the route of administration and perhaps
17 because of other factors as well. That's something
18 that's really important to keep in mind.

19 Slide 32, structure function versus disease
20 claims, there are limitations on the types of
21 claims that dietary supplements can make before
22 they cross over into the drug realm. They can

1 include claims to affect the structure or function
2 of the body, but they can't in general make claims
3 to diagnose, mitigate, cure, or prevent a disease,
4 which is of course part of the drug definition
5 under our act.

6 There are a couple of exceptions here, which
7 I won't go over. But to give you a few examples of
8 the types of claims: helps the immune system
9 versus relieves crushing chest pain and angina, so
10 some of the types of claims and a few examples the
11 dietary supplements can make versus drugs.

12 Slide 33, there are certain quality
13 standards that apply to dietary supplements.
14 Something that will be familiar you is the idea of
15 compliance with CGMP or current good manufacturing
16 practice requirements. I think it's really
17 important to know, however, that the CGMP
18 requirements applicable to dietary ingredients,
19 versus dietary supplements, versus bulk drug
20 substances, versus finished drug products differ.
21 The type of GMPs that you have for a dietary
22 supplement or a dietary ingredient are not

1 comparable to the GMPs that you have for a drug
2 under our act.

3 A few examples on slide 34. In the interest
4 of time, I'm not going to read these, but, again,
5 route of administration is something that's key.
6 The nature of the claim is also key. Those are two
7 differences to look out for in particular; again,
8 not exhaustive, but just really important for our
9 purposes.

10 Slide 35, now I'm going to talk about how
11 this is relevant to our discussion under
12 Section 503A. Slide 36 to understand that
13 discussion. It's important to understand the
14 statutory basis for what a drug is. I mentioned
15 disease claims a few minutes ago. Drugs can make
16 disease claims that are intended for diagnosis,
17 cure, mitigation, treatment, or prevention of
18 disease. They can also make structure/function
19 claims to be a drug, and those are two of the parts
20 of the drug definition in our act.

21 Slide 37, this is what I alluded to at the
22 beginning of my discussion of this topic. A bulk

1 drug substance can only be used in a 503A, I'm
2 going to reiterate because it's so important, if
3 it's a subject of an applicable USP or NF
4 monograph; component of an FDA-approved drug; or
5 appears on the bulks lists that we're developing.
6 And that's what we're doing together here today,
7 working on the bulks list.

8 Applicable USP or National Formulary
9 monographs, we've said is a drug substance
10 monograph, as I mentioned earlier. Some of the
11 bulk drug substances that have been nominated for
12 discussion by this committee for consideration for
13 inclusion on the list are the subject of dietary
14 supplement monographs. And again, we say for
15 purposes of the 3-pronged analysis for whether you
16 can use a bulk under Section 503A, those are not
17 applicable monographs.

18 Again, if you compound a drug, if you're
19 making a drug, all of those substances have been
20 nominated to treat diseases, to make drugs. And
21 Section 503A only applies to compounded drugs. And
22 if you're an ingredient used to make a drug, if

1 you're a bulk drug substance used to make a drug,
2 you're a drug. You no longer are a dietary
3 supplement.

4 If you say I'm going to take curcumin, which
5 we've seen, and I'm going to make it into an
6 injection to treat cancer, you're making an
7 injection; you're not ingesting it, and you are
8 treating obviously a disease. So just because
9 curcumin is [indiscernible] to the monograph does
10 not mean that you can automatically use them in
11 compounding under the provision that you can use
12 things that are applicable, USP or NF monographs in
13 compounding.

14 That does not mean, however, that you will
15 necessarily not be able to use in compounding
16 substances that are the subject of a USP dietary
17 supplement monograph. All it means is that if you
18 want to use it, you nominate it, and we consider it
19 with the advisory committee, with the USP, and
20 decide whether to include on the list through
21 rulemaking.

22 So it just means that you can't

1 automatically use it. The first prong of the 503A
2 test or provision, it means that we would have to
3 consider it through this process.

4 If you look on slide 39, why is it
5 important? When Congress created this provision
6 that you can automatically use things that our
7 components of approved drugs, automatically use
8 substances that are subject to a monograph, there
9 is information known about those substances because
10 the monograph -- because are used to make
11 FDA-approved drugs.

12 When you're using a substance in compounding
13 that don't fall in either of those two buckets,
14 very little may be known about it; for example,
15 whether it's safe to take something that's
16 typically used orally and make it into an
17 injection, whether it's effective to treat cancer;
18 whether the quality profile is appropriate.

19 So the standards in the monograph as well,
20 another point is the monograph may not be
21 appropriate for the drug that you're making. If
22 the USP monograph is going to contemplate for

1 dietary ingredients, something for ingestion, it
2 won't necessarily contemplate the appropriate
3 levels of impurities, for example, if you're making
4 something for injection. In fact, in the general
5 notices, there are differences between impurities,
6 and that's one example.

7 Slide 40, some of the reasons why it's
8 important for us to review these substances with
9 the advisory committee going through the rulemaking
10 process, again, dietary supplements are for
11 ingestion only. Something might be fine to
12 swallow, but then when you inject it, it might be
13 very problematic and might be ineffective for the
14 disease intended to be treated, not well
15 characterized, not stable, and have safety risks.

16 Those are some of the reasons why, again,
17 we're not saying you can never use a dietary
18 ingredient to compound a drug under Section 503A.
19 Rather, it's a drug, and it needs to go through the
20 applicable process for drugs.

21 To conclude on slide 41, drugs and dietary
22 supplements, as I said, are subject to different

1 regulatory schemes. I went over those very
2 briefly. Obviously, there's much more depth to
3 that, and Bob Dworkin from dietary supplements is
4 here in case you have questions about that.
5 Section 503A applies to compounding drug products.
6 And when you take a substance to make a drug, it's
7 a drug. And the appropriate method for review is
8 how we review drugs; important public health
9 protection, as I went over just a second ago.

10 I really want to emphasize that we're not
11 saying these substances can ever be used. They can
12 be used if they go through this process and we
13 decide they're appropriate for inclusion on the
14 list. And I'll all end there. Thank you.

15 **Clarifying Questions from the Committee**

16 DR. VAIDA: Thank you. Although we're
17 running a little late, we will accept some
18 clarifying questions from the committee. Just
19 remember to try to keep your questions to the
20 speaker, just clarifying any of the remarks.

21 Dr. Bogner?

22 DR. BOGNER: Robin Bogner. I have two

1 questions to clarify. So if in a pharmacy licensed
2 in a state, somebody is mixing dietary supplements
3 or repackaging let's say in a capsule a dietary
4 supplement, but not as a drug, that's outside of
5 drug compounding.

6 MS. ROTHMAN: That's a great question. You
7 ask an important point. If you are taking a
8 dietary supplement, putting it into a different
9 container -- I think was your hypothetical -- and
10 repackaging it; or even if you mixed two dietary
11 supplements together, if you don't make drug claims
12 and are intended for ingestion; you follow all the
13 requirements that apply to dietary supplements,
14 you're not under 503A. You're operating within the
15 dietary supplement framework.

16 There are other requirements that might
17 attach to. You might be a dietary supplement
18 manufacturer that has to undergo certain other
19 requirements, but you're not within the realm of
20 Section 503A and compounding.

21 DR. BOGNER: Thank you. then I think it's a
22 related question. This historical use, it concerns

1 me because as we get further from when DQSA went
2 into effect, if you're not allowed to use a bulk
3 drug substance until it's on the list, how do you
4 have historical use if you've not been allowed to
5 use it?

6 Do you understand?

7 MS. ROTHMAN: I understand and also a great
8 question. Yes. A few things. When we're looking
9 at historical use, say we're not just looking at
10 since DQSA. We're looking at how substances have
11 been used since the '90 even, whatever information
12 we have available to us of how they've been used.

13 The other point I'd like to make to address
14 that is we do have an interim policy that's out
15 there right now. We recognize that some
16 compounding using some of these substances might be
17 important to patient care in the interim period
18 while we're developing the bulks list. So
19 compounders are using some of these substances
20 currently while we're developing the list pursuant
21 to that interim policy.

22 So again, very little information is

1 available to us sometimes, so we gather what we can
2 find about how it's been used historically. But
3 we're looking at recently as well as far back as we
4 can find.

5 DR. VAIDA: I'd just like to have Dr. Gulur
6 on the phone introduce herself, please.

7 DR. GULUR: Hello. This is Padma Gulur,
8 Duke University, professor of anesthesiology.

9 DR. VAIDA: All right. Dr. Wall?

10 DR. WALL: Donna Wall; clarifying question.
11 If this committee reviews a substance that has been
12 traditionally a dietary substance and determines
13 that it is now or that there is an actual drug part
14 of it, does it eliminate it from the dietary side
15 or are you still going to have that split?

16 MS. ROTHMAN: So what we're looking at are
17 substances intended to treat medical conditions.
18 To the extent that there's dietary supplements out
19 there that are not making such claims, that are
20 compliant with other requirements for dietary
21 supplements, we wouldn't necessarily say that they
22 can't continue to do that. But if you're going to

1 use something to treat a disease or to make
2 something with a different route of administration
3 that's not appropriate, or whatever else, that
4 substance is going to have to go through the
5 process because it's a drug.

6 Just because you're making a drug over here
7 doesn't mean you can't also make a dietary
8 supplement over here, provided that each complies
9 with the appropriate framework.

10 DR. WALL: Even if they're the same
11 concentration, the same everything; it's just that
12 your intended use is going to differentiate it?

13 MS. ROTHMAN: Again, there are a number of
14 requirements for drugs versus dietary supplements,
15 and one of them is if you meet the definition of a
16 drug, you're drug. If you are making -- if I'm the
17 substance and I say I'm going to be used to treat
18 cancer, and then I have the same substance, but I
19 say I'm going to improve whatever structure/
20 function claim, I believe our answer would be that
21 they could both coexist.

22 But the one that's making the medical claim

1 that is a drug, it has to be regulated as a drug;
2 whereas if you have a substance being used as a
3 dietary supplement, appropriately meeting all the
4 statutory and regulatory requirements of dietary
5 supplements, that can continue to be sold as a
6 dietary supplement. But the moment you say I'm
7 using this to treat a disease or whatever else,
8 whenever it meets the definition of a drug, it's
9 going to have to be regulated as a drug when it's
10 used for that purpose.

11 DR. VAIDA: Mr. Mixon?

12 MR. MIXON: Sara, can we assume that if we
13 take a manufactured dietary substance that's FDA
14 approved off the shelf and convert it to a liquid
15 or maybe some other way, customize it, that's not
16 considered part of this guidance?

17 MS. ROTHMAN: So a couple things there. It
18 wouldn't be FDA approved as a dietary supplement.
19 But the question I know has come up -- the question
20 is raised about whether you can take a dietary
21 supplement sort of finished off the shelf, and if
22 you use that in compounding, what is it?

1 I can tell you that we're working through
2 that question. I know it's come up. It's an
3 important issue, and we're looking at that. So I
4 can't give you an answer right now, but I will say
5 as a general matter that if you take a dietary
6 supplement and you intend to use it as a drug, it's
7 a drug.

8 Now, what the implications are for purposes
9 of compounding, what framework is going to apply,
10 whether both provisions apply, that I can't answer
11 right now.

12 MR. MIXON: Yes. As I see it, it would
13 still be a dietary supplement if it's administered
14 orally and you're simply taking, say, a capsule of
15 curcumin and converting it to an oral solution or
16 suspension of curcumin to be administered orally.
17 From my view, that it would still be a dietary
18 supplement.

19 MS. ROTHMAN: Yes. I would just clarify my
20 answer, too. So in your hypothetical, you're not
21 making any drug claims, right? You're just
22 changing the route of administration or the dosage

1 form, I should say, and not making drug claims. Is
2 that --

3 MR. MIXON: Correct

4 MS. ROTHMAN: Okay. Then, yes. Let me
5 revise my answer. I understood your question to
6 be a little bit different. If you're just
7 manipulating a dietary supplement, you make a
8 dietary supplement, you label in accordance with
9 the dietary supplement requirements, you can apply
10 a dietary supplement with GMPs, and whatever else
11 you have to do, you're in the dietary supplement
12 framework and you're not in the 503A compounding
13 framework if you're not intending it to be a drug
14 and labeling it, and whatever else and such.

15 DR. VAIDA: Dr. Jungman? Desai, first?

16 DR. DESAI: Seemal Desai. Thank you, Sara,
17 for that great presentation and overview. Just a
18 logistical question. If a dietary supplement then
19 does make drug claims and has to then be on the
20 503A list, would it have to go through this
21 committee just like any other nominated substance?

22 MS. ROTHMAN: If it's -- yes. I want to be

1 careful here because we're not addressing at this
2 point a finished dietary supplement; you go to the
3 store and buying crushed [indiscernible]. But if
4 you have a dietary ingredient, a USP dietary
5 supplement monograph or whatever else, and you want
6 to use it to make a drug, it has to go through this
7 process.

8 DR. VAIDA: I'll take two more questions;
9 one from Dr. Venitz on the phone.

10 DR. VENITZ: Yes. My question is a dietary
11 supplement that is compounded as a drug product,
12 how is that labeled?

13 MS. ROTHMAN: Good question. The question
14 is if you take a dietary supplement and compound a
15 drug product, how is it labeled? In that scenario,
16 within the construct of Section 503A, Section 503A
17 doesn't impose specific labeling requirements on
18 any compounded drug, so you would be in the same
19 sort of scenario, any compounded drug and whatever
20 labeling requirements that apply to compounded
21 drugs generally, they would apply to you.

22 But again, in our experience, many

1 pharmacies don't include much labeling on
2 compounding drugs. And Section 503A doesn't have
3 specific provisions concerning labeling. There
4 might be other labeling requirements in different
5 parts of the Federal Food, Drug, and Cosmetic Act
6 that apply generally, but nothing additional or
7 specific in Section 503A.

8 DR. VENITZ: Thank you.

9 DR. VAIDA: Dr. Carome?

10 DR. CAROME: Mike Carome. In one of the
11 nominators' statements for a drug being considered
12 today, they express concern that the FDA was not
13 allowing nominated substances to be considered for
14 use solely for the effects on structure or function
15 of the body and not intended to treat, cure, or
16 prevent a disease. And that first part about
17 structure and function of the body is part of the
18 drug definition. So I wonder whether FDA has a
19 response to that comment.

20 DR. GANLEY: Yes. As you've heard, the
21 structure/function claims for dietary supplements
22 are very different from drugs. But when you look

1 at drugs and a structure/ function claim -- I'm
2 just going to give you an example -- it doesn't
3 have to be treating a disease. You can treat a
4 condition.

5 One example would be pregnancy where you
6 have drugs that help prevent pregnancy. Pregnancy
7 is not a disease. The caveat there is, though,
8 there are certain standards you have to meet under
9 the drug regulations with regard to what's the
10 treatment effect? Is it going to be beneficial?
11 Can I deliver the drug to the site of action? Are
12 there drug interactions? So it brings on a
13 completely different set of standards than are
14 necessary in the dietary supplement realm.

15 Does that answer your question? But again,
16 a drug should have a clinical utility that's
17 definable or a clinical benefit that's definable.
18 It doesn't have to be a disease. It could be a
19 condition.

20 Another example in the over-the-counter
21 realm are sunscreens. Sunscreens are drugs in the
22 United States. They affect the structure of the

1 skin by preventing sunburn. We set up standards as
2 to what requirements need to be met to become a
3 sunscreen in terms of testing and things like that.
4 So that's really the best way I can explain it.

5 DR. VAIDA: Name, for the committee?

6 DR. GANLEY: Charley Ganley, Office of New
7 Drugs.

8 DR. VAIDA: Dr. Chelimsky, and this will be
9 the last question.

10 DR. CHELIMSKY: Thank you for the very
11 helpful presentation. I'm new to this. I just
12 wondered if you could say more about the definition
13 of clinical need. It seemed like a lot of emphasis
14 was being placed on that. Is that just the
15 balancing act that you talked about, or is there
16 more to clinical need?

17 MS. ROTHMAN: Sure. So the statute says
18 clinical need. So of course when we're deciding
19 whether something meets that standard, we
20 necessarily have to give some interpretation to
21 clinical need in the statute. And the
22 interpretation that we propose in our draft

1 guidance is that there's a clinical need for an
2 outsourcing facility to compound the drug, and the
3 drug must be compounded using a bulk drug
4 substance.

5 This is a provision of Section 503B
6 concerning outsourcing facilities. So we're
7 looking at whether an outsourcing facility
8 compounds the drug, clinical need for an
9 outsourcing facility to do it. Our thinking, as I
10 went over, and the tests that we're proposing is if
11 you can use the approved drug or if you can use the
12 approved drug to compound, it may not be a clinical
13 need to compound it from bulk.

14 To answer your question about whether it's a
15 balancing test, the factors that we set forth to
16 arrive at our interpretation of clinical need or
17 our proposed interpretation of clinical need has a
18 two-part analysis. And the first part is really
19 looking at whether you need to use a bulk drug
20 substance at all, whether you need a compounded
21 drug at all. And if you answer no to those
22 questions, we're saying, our proposal to say,

1 there's no clinical need

2 Now, if you answer yes to those questions,
3 we think that in addition to looking at whether you
4 need to make it from bulk, whether you need a
5 compounded drug, it's important to look at are
6 there safety concerns, are their efficacy concerns.
7 I think part 2, looking at those four factors, is
8 more of a balancing test. Part 1 is more looking
9 at do you need to do it from bulk at all? That's
10 sort of less of a balancing test. So I hope that
11 helps.

12 DR. CHELIMSKY: Thank you.

13 DR. VAIDA: We'll take one more question.
14 Dr. Ghany?

15 DR. GHANY: Marc Ghany. My question is
16 along the same lines. If you have a compound A
17 that you want to use for indication B, but where
18 effective therapy does exist, then does that come
19 under clinical need still?

20 MS. ROTHMAN: If the bulk drug substance
21 that you're proposing to use is a component of
22 approved drug B, we would look at it under the

1 first threshold factors that we described about
2 whether you need to use a compound drug at all.
3 And if you do, you need to compound it from bulk
4 drug substances, or if you can just make the
5 approved drugs.

6 If it's not under part 1 because it's not
7 like a component of the approved drug, it would go
8 under part 2. Just as in the context of the
9 factors that I described, the purpose of the 503A,
10 we consider the availability of approved
11 alternatives when weighing safety and effectiveness
12 and would similarly do that when we evaluate the
13 503B bulk drug substances.

14 So the availability of approved drugs that
15 have been proven safe and effective will come into
16 play in two ways. The first way is under part 1,
17 if the bulk drug substance is a component of such
18 drug, we would consider whether you can just use
19 that drug; and if you can't, whether you need to do
20 it from bulk.

21 So for example, let's say there's a safe and
22 effective treatment that's approved at a higher

1 strength that what the patient needs. We might
2 look at the patient perhaps couldn't use the
3 approved drug because strength is too high. But
4 then can you just dilute the approved drug? Why do
5 you have to make it from bulk?

6 So that's where that all comes to play. And
7 if we decide it makes it through part 1, or if we
8 say it's not a component of an approved drug, will
9 consider the availability of approved alternatives
10 when weighing the safety and effectiveness and
11 other factors.

12 DR. VAIDA: Dr. Bormel?

13 DR. BORMEL: Gail Bormel from FDA. I just
14 wanted to expand on what Sara's saying and make it
15 clear that the clinical need that we're talking
16 about relates to the evaluation of bulks nominated
17 for the 503B list. That's not what we're going to
18 be talking about today. She's clarifying a lot of
19 our new guidances and FRNs that have come out.

20 For purposes of today's discussion, we're
21 talking about bulk substances that were nominated
22 for the 503A list. And Sara had gone through the

1 criteria that we discussed in context of evaluation
2 of the 503A list and the balancing of those. The
3 criteria, again, are the physical and chemical
4 characterization of the substances, any safety
5 issues, available evidence of effectiveness, and
6 the historical use of the substances.

7 MS. ROTHMAN: Thank you, Gail. And 503A
8 doesn't use the term "clinical need." That's 503B
9 only.

10 DR. VAIDA: Thank you for that
11 clarification.

12 We'll now proceed with the FDA presentation
13 on alpha lipoic acid from Dr. Michael Brave.

14 **FDA Presentation - Michael Brave**

15 DR. BRAVE: Good morning. I'm Michael
16 Brave, and I reviewed the nomination for alpha
17 lipoic acid on behalf of the FDA. I'd like to
18 acknowledge my colleagues listed on this slide who
19 participated in this review.

20 Alpha lipoic acid, or ALA, has been
21 nominated for inclusion on the list of bulk
22 substances that can be used in compounding under

1 Section 503A of the Federal Food, Drug, and
2 Cosmetic ct. The uses for which ALA has been
3 proposed are listed on slide 3. The proposed
4 routes of administration are oral, intravenous, and
5 topical, and the references provided in the
6 nomination included both nonclinical and clinical
7 information.

8 ALA is an 8-carbon dithiol that is part of a
9 redox pair, the other member of the pair being
10 dihydrolipoic acid or DHLA. ALA has one chiral
11 carbon, and thus exists as R or S isomers. The R
12 isomer is present in all prokaryotic and eukaryotic
13 cells and is the naturally occurring form. Most
14 commercial formulations contain a racemic mixture.

15 When exposed to light, ALA undergoes
16 photolysis to form DHLA. ALA is also sensitive to
17 temperature. At 25 degrees centigrade and 100
18 percent relative humidity, 20 percent of ALA
19 decomposes after 48 hours.

20 ALA can be synthesized easily, efficiently,
21 and inexpensively. In the synthetic route shown
22 here, DHLA and ALA are produced from cyclohexanone

1 and vinyl ethyl ether. Likely impurities in the
2 finished product include trace amounts of residual
3 solvents like cyclohexanone and vinyl ethyl, DHLA
4 generated from photolysis of ALA, or as a residue
5 from the last step in ALA synthesis, and oligomers
6 from the polymerization of DHLA. None of these
7 likely impurities are thought to be very toxic.

8 In humans, ALA is part of several acid
9 dehydrogenases involved in energy production. ALA
10 binds acyl groups and transfers them from one part
11 of the enzyme to another. The illustration here
12 shows ALA reduced to DHLA and then reoxidized by
13 lipoamide dehydrogenase in the presence of NADH.

14 ALA is absorbed quickly following oral
15 administration in rats and dogs and exposure is
16 dose proportional. Following absorption, ALA
17 undergoes rapid biphasic elimination. It's main
18 metabolite, DHLA, is predominantly excreted through
19 the urine. Studies in the rat showed that
20 topically applied ALA was absorbed systemically.

21 The liver and kidney were targets of
22 toxicity in short-term studies in rats and cats.

1 No toxicity was seen following long-term exposure
2 in dogs. No data are available for reproductive
3 developmental toxicity. ALA was not mutagenic in
4 the Ames assay and micronucleus genotoxicity
5 assays. And finally, rats fed a high ALA diet for
6 24 months did not have a higher incidence of tumor
7 formation compared to control rats.

8 The main sources of clinical safety
9 information, which the FDA identified for ALA, were
10 several randomized controlled trials assessing the
11 efficacy of ALA in patients with diabetic
12 neuropathy and several case series reporting the
13 outcome of patients with amatoxin mushroom
14 poisoning who received ALA.

15 No randomized trial reported an excess of
16 toxicity in the ALA group, although most randomized
17 trials that we reviewed did not appear rigorously
18 designed to collect adverse event data. Likewise,
19 no case series of patients treated with ALA
20 reported any serious toxicity. The only toxicities
21 reported were nausea, vomiting, and the vertigo in
22 up to 10 percent of patients at doses of 1800

1 milligrams daily.

2 A search of the FDA Center for Food Safety
3 and Nutrition's Adverse Event Reporting System, or
4 CAERS, and the FDA Adverse Event Reporting system,
5 FAERS, contained a combined 119 individual case
6 reports mentioning ALA.

7 From the information provided in these
8 reports, it was not possible to directly establish
9 a causal relationship between ALA in any of the
10 reported adverse events, as little detail was
11 available concerning the adverse events such as
12 time to onset relative to ALA exposure, the action
13 taken with the event, or the outcome. Common
14 adverse events and the CAERS and FAERS database
15 included palpitations and metabolic events such as
16 hyperglycemia.

17 The clinical setting in which ALA has been
18 most extensively studied is in the treatment of
19 pain due to diabetic sensory motor polyneuropathy.
20 The FDA identified 10 randomized controlled trials
21 evaluating ALA for this indication. ALA was
22 administered orally, intravenously, or both, at

1 doses ranging from 100 to 1800 milligrams per day.

2 Most of these trials were limited to
3 patients with type 2 diabetes. Intravenous ALA was
4 given for up to 3 weeks, and the duration of oral
5 administration varied between 3 weeks and 4 years.
6 Although 5 of these randomized controlled trials
7 were conducted by the same group of German
8 investigators, there was no indication of patient
9 overlap between reports.

10 The primary outcome measure in 6 of these
11 randomized clinical trials was the Total Symptom
12 Score. This questionnaire asked patients to assess
13 the intensity and frequency of 4 symptoms, pain,
14 burning, paresthesia, and numbness, resulting in a
15 total score in which zero means no symptoms and
16 14.64 means that all 4 symptoms are severe and
17 continually present.

18 A clinically relevant improvement in
19 neuropathic symptoms was typically defined as a 30
20 to 50 percent change or a decrease in 3 points in
21 total score. It is not clear how this definition
22 was arrived at.

1 Seven of 10 randomized trials that we
2 identified evaluating ALA in peripheral diabetic
3 neuropathy concluded that ALA led to modest
4 short-term improvements in neuropathic symptoms.
5 Caveats are that few trials included patients with
6 type 1 diabetes, no trial showed ALA to improve
7 diabetic autonomic neuropathy, and no trial
8 demonstrated an effect on the long-term natural
9 history of diabetic neuropathy.

10 Moving on to amatoxin mushroom poisoning,
11 the FDA identified 6 non-randomized case series
12 involving a total of 410 patients with amatoxin
13 poisoning during the period from 1971 to 2003.
14 Most patients in these trials were treated before
15 1980. Of these 410 patients, 352, or 86 percent,
16 survived.

17 The FDA also identified 5 additional reports
18 not shown here of a total of 7 patients with
19 amatoxin poisoning, all of whom survived following
20 treatment with a comprehensive protocol that
21 included ALA.

22 There are limitations to interpreting these

1 case series. For example, it is not possible to
2 isolate the treatment effect of ALA because
3 patients with amatoxin are typically treated in an
4 intensive care setting with comprehensive protocols
5 that include other drugs to protect the liver, such
6 as benzyl penicillin, procedures to accelerate the
7 elimination of amatoxin such as activated charcoal
8 lavage, and supportive care measures such as
9 vitamin K, and ultimately a liver transplantation
10 if necessary.

11 A second limitation so the interpretation of
12 these reports is the use of historical controls for
13 comparison, the patient's hospitalized and
14 supported aggressively immediately after ingestion
15 of amatoxin-containing mushrooms reported mortality
16 rates as low as 10 percent, whereas patients
17 presenting 60 or more hours after ingestion of
18 poisonous mushrooms have up to a 90 percent
19 mortality rate. Additional variables are that some
20 mushrooms contain other compounds other than
21 amatoxin and are therefore less toxic.

22 Other authors reported less satisfactory

1 results with ALA. For example, a multiple
2 regression analysis performed by Floersheim in
3 1982, using the data from some of the trials on the
4 previous slide, found that patients with amatoxin
5 poisoning who received ALA had lower survival than
6 patients who did not receive ALA.

7 ALA has not been recommended by most poison
8 control centers for amatoxin poisoning for
9 approximately 2 decades, long enough to be
10 represented as fact in tertiary textbooks. The FDA
11 searched the American Association of Poison Control
12 Centers' national database and found 1217 cases of
13 amatoxin poisoning between 2005 and 2017. Of these
14 1217 cases, 70 percent received therapy and none
15 received ALA. We also note that the mechanism of
16 action of ALA and amatoxin poisoning has not been
17 established.

18 Last, the FDA identified no convincing
19 reports that ALA has clinical activity or benefit
20 in pancreatic cancer, liver disease, or muscle pain
21 associated with fibromyalgia.

22 To summarize clinical information on ALA, 7

1 of 10 randomized controlled trials concluded that
2 ALA led to modest short-term improvements in
3 neuropathic symptoms of peripheral diabetic
4 neuropathy with the caveats previously mentioned.
5 Published case series in aggregate suggests that
6 the addition of ALA to comprehensive treatment
7 protocols may increase the odds of survival with
8 full recovery.

9 Nonetheless, ALA is no longer recommended or
10 used for this indication. This discrepancy could
11 be explained in part by reporting bias, the process
12 whereby the dissemination of research findings is
13 influenced by the nature and direction of the
14 results. The FDA found no convincing reports that
15 ALA has clinical activity in pancreatic cancer,
16 liver disease, or fibromyalgia.

17 Compounding pharmacy journals suggests that
18 ALA has been compounded for at least 19 years.
19 Internet advertising suggests that ALA has been
20 compounded as an injection, suppository, topical,
21 and troche formulations, as well as intravenous
22 formulations for administration to treat diabetes

1 and diabetic neuropathy. Insufficient data are
2 available to determine the extent of ALA use in
3 compounded products.

4 In summary, ALA is adequately characterized
5 chemically. ALA is stable in solid but not in
6 liquid formulations. Clinical reports to date have
7 revealed no serious safety concerns. Clinical data
8 suggests a therapeutic potential for patients with
9 diabetic neuropathy.

10 Clinical data on the use of ALA for amatoxin
11 poisoning are difficult to interpret, and we found
12 no credible evidence of meaningful clinical
13 effectiveness in pancreatic cancer, liver disease,
14 or fibromyalgia. ALA appears to be compounded as
15 an injection suppository, topical product, and
16 troche formulations.

17 Based on a balancing of the 4 evaluation
18 criteria, we find solid oral formulations of ALA to
19 be suitable for substances to be compounded under
20 Section 503A of the Federal Food, Drug, and
21 Cosmetic Act. In recent weeks, FDA has established
22 that we wish to consider as part of the subsequent

1 public notice and comment rulemaking process
2 whether liquid formulations, including intravenous
3 and aqueous oral formulations, should be added to
4 the list as well. Thank you.

5 **Clarifying Questions from the Committee**

6 DR. VAIDA: Thank you. We will now
7 entertain some clarifying questions from the
8 committee. Remember again, just clarifications of
9 the presentation. Dr. Wall?

10 DR. WALL: Question. We're talking about
11 the metabolite is DHLA. Is this an active
12 metabolite? And if so, which it's mostly
13 eliminated in the urine, have there been, as you
14 evaluated these studies, any use in renal failure
15 or in patients with renal insufficiency, and any
16 problems?

17 DR. BRAVE: DHLA and ALA interconvert from
18 one to the other. I don't know whether that
19 technically is considered part of the definition of
20 an active metabolite, but you can't have one
21 without the other.

22 I'm sorry. What was the second question?

1 DR. WALL: Your slide says that it is
2 eliminated in the urine, so I wanted to know if
3 you've looked at any renal failure or renal
4 insufficiency patients that you pulled out of
5 looking at these studies and if there were any
6 problems with it.

7 DR. BRAVE: That's a good question. I'll
8 refer that to Dr. Harrouk, our toxicologist on this
9 application.

10 DR. HARROUK: Hi. My name is Wafa Harrouk.
11 I'm the toxicologist who reviewed this application,
12 and I looked at the nonclinical aspects of this
13 substance. Basically, we had one study where the
14 investigator, Fuke et al., 1972, studied ALA IP
15 intraperitoneally, and they did show some kidney
16 findings. However, when you look, there were some
17 changes. But we had another study, which was the
18 2-year carcinogenicity study. In that study, the
19 report did not include any kidney complications.

20 So it was seen in one report, and the
21 6-month -- the 2-year carci [ph] study did not show
22 any kidney toxicity. I hope this answers the

1 question.

2 DR. WALL: What kind of kidney complications
3 did they see? do you remember?

4 DR. HARROUK: The relative weight of the
5 kidneys were increased in the high dose in all
6 treated males and 2 of the highest doses in the
7 females. The changes were increased in kidney
8 weights; no histopathology. There were none that
9 we found in the literature, so just increased
10 kidney weight.

11 DR. WALL: And to clarify, these were in
12 subjects who had compromised kidneys to begin with
13 or was this just the effect that they saw on normal
14 subjects and their effects on the kidneys? I'm
15 looking more in particular of -- the diabetic
16 population has a huge problem with the kidneys. So
17 has there been anything that anyone has seen with
18 this product and its active metabolite, negative on
19 not being eliminated very quickly?

20 DR. HARROUK: So just to clarify, the
21 studies that I'm referring to are in the rat.
22 These are nonclinical animal models. The animals

1 were normal. They weren't with any kidney
2 diseases. So I can answer what happens if you have
3 a compromised kidney function.

4 DR. WALL: Thank you.

5 DR. VAIDA: Dr. Desai?

6 DR. DESAI: Seemal Desai. Thank you for the
7 presentation, Dr. Brave. I don't use alpha lipoic
8 acid in my clinical practice to treat diabetes. As
9 a dermatologist, I don't really manage diabetes on
10 a day-to-day basis. However, I was interested that
11 when studying this nomination and looking at the
12 science, I do recommend alpha lipoic acid,
13 particularly in its oral vitamin formulations that
14 are available as antioxidants over the counter.

15 I use those, in particular, in patients with
16 autoimmune skin diseases, in particular, vitiligo,
17 where it's shown a remarkable benefit when combined
18 with phototherapy and repigmentation combined with
19 vitamin C, vitamin E, and oral alpha lipoic acid,
20 which is typically found in an over-the-counter
21 formulation. And I know in your research, you
22 reference several studies that talked about the

1 antioxidant benefits.

2 My question is, did you happen to see any
3 data on some of the available formulations that are
4 over the counter currently for alpha lipoic acid?

5 DR. BRAVE: I didn't encounter any of that
6 data. Vitiligo and dermatologic conditions were
7 not part of the nomination.

8 DR. VAIDA: Thank you.

9 DR. JUNGMAN: Hi. Elizabeth Jungman from
10 Pew. I was hoping just to clarify the question
11 that we're being asked here. I think typically
12 when we're asked to consider, or when FDA is
13 recommending that a particular dosage form be
14 placed on the list, we're being asked to put that
15 on the list and kind of explicitly not put other
16 dosage forms on the list.

17 It sounds like here, we're talking about a
18 different circumstance where if we follow the
19 agency's recommendation, you would place the oral
20 formulation on the list and then other formulations
21 would still be outstanding. And I want to kind of
22 understand -- my assumption there is that means

1 that under FDA's interim policies, that compounders
2 would continue to be able to use the other
3 formulations even once enforcement has begun in
4 earnest.

5 Can you just help me understand kind of
6 what's actually the precise question here?

7 DR. BRAVE: I will defer that to our legal
8 colleagues.

9 DR. DOHM: Yes, a couple of things. One,
10 you're right, that the specific question here is
11 going to be whether or not the committee wants to
12 put solid oral dosage forms of ALA onto the 503A
13 list.

14 As to your second question of what that
15 means for this interim period and whether or
16 aqueous or liquid formulations of ALA can be used,
17 so long as ALA remains in category 1, which is
18 described in our interim policy, that means that it
19 would be eligible for the policies set forth in
20 that guidance.

21 So absent some action by the agency to move
22 ALA, specifically the aqueous formulations, into

1 category 2 or category 3, that would still remain
2 to be true.

3 DR. JUNGMAN: Thank you.

4 DR. VAIDA: Dr. Sun?

5 MS. SUN: Hi. This is Jeanne. I had a
6 couple of questions on the dosage forms. It looks
7 like at least in the clinical studies, that the IV
8 formulation was used at least in some of the
9 diabetic neuropathy, and IV formulation was
10 exclusively used for treating the toxicity. And I
11 think one of the reasons for excluding the IV
12 formulation was the stability concern. Can you
13 comment a little bit about this stability concerns?

14 Also, in one of the nominations, a nominator
15 had talked about topical formulation, and I didn't
16 notice any of that in the
17 the review.

18 DR. BRAVE: We found no reports of clinical
19 safety or efficacy with topical formulations.
20 Regarding the stability issue, Dr. Sood is our
21 chemist on this nomination.

22 DR. SOOD: I'm Ramesh Sood. I'm from the

1 Office of New Drug Products. Regarding the
2 stability issues with ALA, ALA salt has been found
3 to be unstable, and their instability could come
4 from the moisture. So we didn't find any published
5 literature on the stability of the liquid
6 formulation of ALA. That's one thing.

7 But because the salts are not stable under
8 the humid conditions, as Dr. Brave talked about,
9 the 20 percent was degraded sodium salt and 100
10 percent relative humidity in 48 hours. The second
11 issue is that ALA itself was not that unstable
12 under these humid conditions, but ALA salts were.
13 Now, ALA to dissolve in liquid forms, ALA would not
14 dissolve, but ALA salts would. But the nominated
15 product is ALA.

16 DR. SUN: Just a follow-on to that, I think
17 one of the comments that came in also noted that
18 there was a commercially available injectable
19 solution in Germany. Can you comment on the
20 stability of that?

21 DR. SOOD: We looked at that product also,
22 and that's a different salt of ALA. It's

1 not -- like it's a [indiscernible] salt of ALA.

2 DR. VAIDA: Dr. Venitz on the phone, and
3 then Dr. Ghany.

4 DR. VENITZ: My question has already been
5 asked and answered. Thank you.

6 DR. VAIDA: Dr. Ghany?

7 DR. GHANY: Yes. I wonder if the -- I'm
8 sorry I ask this question again, but I just would
9 like some clarification. Can the FDA advise the
10 committee on whether we're asked to also consider
11 effectiveness of these compounds for other clinical
12 indications to which they're being requested to be
13 used? Because data was presented on effectiveness
14 but I don't know if we're asked to consider that
15 data in making our assessments.

16 DR. BORMEL: This is Gail Bormel from FDA.
17 Effectiveness is one of the criteria that you have
18 to balance when making your recommendation.

19 DR. VAIDA: Last question, Dr. Carome?

20 DR. CAROME: I just wanted to follow up on
21 the question Elizabeth asked and the response from
22 FDA. Suppose FDA decides to put the oral

1 formulation of ALA on the 503A bulks list. You go
2 through the rulemaking and you do that, so the
3 issue of final rule, putting it on the list in the
4 oral formulation. What are the implications for
5 the IV formulation at that point? As I understand,
6 FDA's considered both formulations, and you're
7 recommending only the oral, not the IV, placed on
8 the list.

9 DR. BORMEL: Gain Bormel again, FDA. Once
10 the final rule is promulgated, if the final rule
11 only includes the solid oral dosage form, that
12 would be all that could be compounded.

13 DR. VAIDA: All right. Thank you.

14 DR. BORMEL: And that means that the interim
15 policy would be over, once the final rule is
16 promulgated. I'm sorry to interrupt, but until
17 that time, until there's a final rule, the interim
18 policy would allow compounding to take place for
19 the substances that are on category 1.

20 DR. GANLEY: This is Charley Ganley. I just
21 want to clarify that further. You're going to see
22 a presentation from McGuff. We've been going back

1 and forth with them trying to understand what's out
2 there and how these are stabilized in currently
3 marketed products.

4 We didn't have that information when we were
5 doing our review, so part of this process is for
6 compounders or other individuals to provide us
7 information that supports an intravenous
8 formulation.

9 There are issues related to stability, and
10 we want to make sure someone's making a product
11 that's put into a vial, and they're then putting it
12 into an aqueous solution that we understand that
13 it's stable; otherwise, you're injecting something
14 that's -- and we're talking about a disease here
15 that is particularly the diabetic neuropathy, which
16 is an important disease.

17 So if it's being used for that and you're
18 putting this into an aqueous solution, we'd like to
19 understand that that's going to be stable in that
20 solution. How fast do you have to give that
21 infusion? Are there complications associated with
22 that infusion?

1 There is information that we're trying to
2 confirm from the German label that suggests the
3 infusion rate becomes important. These are all
4 issues that we haven't sorted out, and the burden
5 also falls on the compounders and other clinicians
6 to provide us information.

7 So I think if we were going to put out a
8 rule, we would take the information that we get
9 from this meeting, from the compounders, and
10 subsequent information, and try to incorporate that
11 into the proposed rule in making a decision.

12 That would then end the day there, and
13 people would have the ability to comment on it.
14 But the issue is if you're going to make a drug
15 that is injected and you're putting it into D5W,
16 and there are questions about its stability in an
17 aqueous formulation, that issue needs to be
18 addressed.

19 DR. VAIDA: Thank you.

20 We have one nominator and we'll take their
21 presentation right now, Dr. Arthur Berkson from
22 Integrative Medical Center of New Mexico.

1 **Nominator Presentation - Arthur Berkson**

2 DR. BERKSON: Hi, everybody. My name is
3 Arthur Berkson, and I'm a family doctor from Las
4 Cruces, New Mexico. I did an additional 2-year
5 fellowship in integrative medicine at the
6 University of Arizona, and thank you for letting me
7 speak today.

8 Dr. Brave, thanks for your excellent
9 presentation on lipoic acid.

10 What I'm going to speak to specifically is
11 intravenous lipoic acid and how essential it is in
12 the treatment of diabetic neuropathy. FDA has
13 already told us that it's safe, and there does not
14 appear to be significant adverse effects associated
15 with its use, and that it's effective, and that ALA
16 appears to show symptom improvement in the
17 treatment for several weeks in diabetic neuropathy
18 from their report.

19 I'm going to show that there are really no
20 available equivalent treatments in terms of safety,
21 efficacy, and mechanism of action for this
22 important condition. There are promising uses that

1 can be subjects of future controlled trials.
2 That's beyond the scope of the 15 minutes that I
3 have. Furthermore, intravenous alpha lipoic acid
4 has been proven over decades to be safe, effective,
5 and stable. And I'm going to just give you a
6 couple of case reports at the end.

7 I think all of us who are trained in the
8 medical profession could do a literature search,
9 and we could talk about safety and efficacy of
10 different therapeutic agents. In my office in Las
11 Cruces, we have patients who travel all over the
12 world for our expertise in this particular
13 substance.

14 I look back at our data, and we've
15 administered over 75,000 doses of intravenous
16 lipoic acid. In that time, we've seen zero serious
17 adverse events. We do see some mild adverse events
18 like hypoglycemia, so we make sure to keep amps of
19 D50 on hand and snacks, because with diabetic
20 patients you run into that risk. Additionally, we
21 see headaches, somnolence, nonspecific symptoms
22 that usually resolve within an hour or two.

1 Here's a partial list of diseases that you
2 could find in the literature. I really challenge
3 all of you to do a thorough literature search, and
4 I appreciate FDA's effort on this behalf. But
5 there are a lot of promising uses that I could get
6 into, but I'm not going to.

7 I'm going to really focus on where the best
8 evidence is, and that's in intravenous lipoic acid
9 with diabetic neuropathy. This is an important
10 condition because after 20 years of having
11 diabetes, almost 90 percent of the patients will
12 have diabetic neuropathy. Forty percent of those
13 patients won't know that they have it because it's
14 not the pain that affects them, but it's the lack
15 of sensation and the risk of having other
16 complications.

17 Briefly, the pathophysiology is
18 hyperglycemia causes reactive oxygen species
19 synthesis in the mitochondria, and that leads to
20 endothelial damage to nerve cells.

21 As a primary care doctor, what are my
22 treatment options? Well, first of all, I have to

1 achieve good glycemic control with lifestyle and
2 available pharmaceutical agents. Also, I have to
3 be sure that patients are having proper foot care
4 so that they're identifying lesions early and
5 hopefully preventing them so it doesn't lead to
6 amputation. And finally, I could treat the pain.

7 Here is a slide that's adapted from the 2011
8 guidelines from the American Academy of Neurology,
9 and it summarizes the evidence on available
10 substances for neuropathy, pharmaceutical agents.
11 The only FDA-approved agents are pregabalin, which
12 is a derivative of the anticonvulsant gabapentin;
13 duloxetine, the antidepressant; and tapentadol,
14 which is an opioid pain medicine.

15 There are other anticonvulsants on this
16 list. There are other antidepressants that have
17 evidence of efficacy. And there are opioid pain
18 medicines. These are agents that have high risk of
19 misuse and abuse, and 2 of the 3 FDA-approved drugs
20 are actually controlled substances as well.

21 So what do all of these available treatments
22 for diabetic neuropathy have in common? All of

1 these agents change the perception of pain. They
2 don't do anything to treat the underlying causes of
3 pain. Why not treat the cause, especially when we
4 have an agent that has the potential to do so? And
5 that is alpha lipoic acid.

6 So again, very briefly, pathophysiology of
7 diabetic peripheral neuropathy and oxidative stress
8 from hyperglycemia leads to external damage and
9 demyelination of these nerves, and you wind up with
10 the neuropathic symptoms.

11 Dr. Brave talked about the chemical
12 structure of lipoic acid in depth, but lipoic acid
13 is fat soluble, it's lipophilic, and also in
14 certain conditions, it's water soluble. This is a
15 very potent antioxidant and mitigates that free
16 radical damage. It also recycles other
17 antioxidants like vitamin C and vitamin E and
18 glutathione to further mitigate that damage. And
19 finally, it's an insulin mimicker and also reduces
20 insulin resistance. So it really goes after the
21 underlying cause of disease, not just masking
22 symptoms.

1 But I'm a clinician. I'm not a basic
2 scientist. So when I treat patients, I want to
3 know that what I'm using is safe and efficacious in
4 people, not in rats. Here's a 1995 study. This is
5 the ALADIN study, 328 patients with diabetic
6 neuropathy. This was intravenous; I want to
7 clarify that. And half of the patients got IV
8 alpha lipoic acid, 600 milligrams a day. They
9 actually ramped up that those, too, but they found
10 that that was the optimal dose. Half of them got
11 placebo.

12 In conclusion, it said, IV alpha lipoic acid
13 using a dose at 600 milligrams a day over 3 weeks,
14 superior to placebo in reducing symptoms of
15 diabetic peripheral neuropathy without causing
16 adverse reactions.

17 Another randomized double-blind,
18 placebo-controlled trial 4 years later looked at
19 509 patients. This was the ALADIN III trial. In
20 this trial, they used IV lipoic acid or a placebo
21 followed by -- and that was over 3 weeks, too,
22 followed by -- I think that was 6 months of oral

1 lipoic acid or placebo. This study was not
2 as -- the results weren't as convincing in
3 decreasing the Total Symptom Score. In other
4 words, the pain of diabetic neuropathy, but it did
5 show a reduction in neuropathic deficits, again,
6 without adverse effects.

7 The SYDNEY trial from 2003, that looked at
8 120 patients; again, 3 weeks of IV alpha lipoic
9 acid versus placebo. In conclusion, these authors
10 stated that intravenous alpha lipoic acid, rapidly
11 and to a significant and meaningful degree,
12 improved positive neuropathic symptoms like pain,
13 and the improvement of these symptoms was
14 attributed to improved nerve pathology. It
15 reversed disease; it didn't cover up pain.

16 This is a meta-analysis of the randomized
17 controlled trials that were available for
18 intravenous lipoic acid. It looked at over 1200
19 patients. I think there were 1258 patients. And
20 it included 4 trials, which looked at 600
21 milligrams intravenous lipoic acid and 15
22 treatments over 3 weeks.

1 In conclusion, the authors summarized that
2 the results of this meta-analysis provide evidence
3 that treatment with IV alpha lipoic acid 3 weeks is
4 safe and significantly improved, both the positive
5 neuropathic symptoms, in other words, the pain, and
6 the negative neuropathic deficits, the lack of
7 sensation to a meaningful degree, and it did so
8 safely.

9 Next slide. Here's a study I included of IV
10 alpha lipoic acid from 2004. This was in 46 type
11 1 diabetics with autonomic neuropathy. In the
12 treatment group, they found that the autonomic
13 neuropathic indicators improved in that group, so
14 they looked at orthostasis, dizziness, erectile
15 dysfunction, neuropathic edema, and in the control
16 group, there was no improvement.

17 I've talked about intravenous lipoic acid.
18 In oral alpha lipoic acid, this is an interesting
19 study out of Germany. They looked at just under
20 300 patients who had been on oral lipoic acid for
21 5 years and had some control of their symptoms.
22 They switched the patients either to no treatment

1 or to gabapentin, which is one of the most commonly
2 used drugs for this condition, as we all know.

3 Within 2 weeks, the untreated group began to
4 develop symptoms again. In the gabapentin group,
5 45 percent of the patients stopped treatment
6 because they couldn't tolerate it due to sleepiness
7 and brain fog. All of us as professionals
8 eventually become patients. If I'm going to be
9 treated for my medical condition, I want to know
10 that the treatment that I'm taking isn't going to
11 affect my cognition. It's very important that
12 we're all sharp, and alpha lipoic acid may actually
13 improve cognition.

14 I'm going to skip that. In response to FDA,
15 FDA states, which I appreciate, that it's safe, and
16 they mention that there has been extensive
17 literature reporting clinical evaluation of ALA.
18 There do not appear to be significant adverse
19 effects associated with its use.

20 In terms of efficacy, FDA states, "Alpha
21 lipoic acid appears to show symptom improvement
22 with the treatment for several weeks in the

1 treatment of diabetic neuropathy." They also
2 stated, "No trial has shown ALA to improve diabetic
3 autonomic neuropathy," which I disagree with
4 because I mentioned that small trial, which I
5 presented.

6 Furthermore -- and this has been addressed a
7 little bit -- they mentioned that a search of the
8 British, European, and Japanese pharmacopeia didn't
9 show any monograph listings for ALA. Well, it's
10 been a known pharmaceutical agent in Germany I
11 think since the 1980s. Additionally, it's a
12 pharmaceutical agent that's available in Columbia.

13 What about the stability of ALA in aqueous
14 solution? Well, I think, again, I'm a clinician,
15 not a pharmacist, not a basic scientist, and the
16 Doug Tram [sic] is here, a pharmacist from McGuff,
17 who will address this further. But there has been
18 extensive experience with IV alpha lipoic acid
19 since the 1970s.

20 There are also multiple studies of alpha
21 lipoic acid as an IV preparation. I presented some
22 of those studies and Dr. Brave presented some of

1 those studies in his presentation. It's also
2 available as an IV drug in different countries
3 around the world.

4 As far as data, I had sent an email to a
5 South American drug company who produces alpha
6 lipoic acid, and they actually graciously sent me
7 back to stability data, which I'm happy to share
8 with you guys, that showed that their solution was
9 stable -- their alpha lipoic acid was stable in
10 solution over 2 years, which exceeds our
11 requirements. But I think McGuff will more
12 articulately discuss this.

13 In the FDA statement, the aqueous
14 formulation they state is likely to be much more
15 unstable than solid dosage form. And due to lack
16 of this precise information supporting solution
17 forms of ALA, the stability can't be determined.
18 There's no citation on this, so I think this is
19 more speculation and doesn't reflect experience of
20 clinicians in the literature.

21 I think about my patients first and
22 foremost, and I don't know how I'm going to go back

1 and explain to a patient who's been safely and
2 effectively receiving alpha lipoic acid, IV in some
3 cases, 10 years, and explain to them that their
4 product is no longer available.

5 Doug Tram [sic] flew here from California,
6 and I'm going to let him present these slides in a
7 little bit. But in closing, I want to say that at
8 the Integrative Medical Center of New Mexico, we
9 treat diabetic neuropathies and other neuropathies
10 with IV alpha lipoic acid every day.

11 I want to address something, too, in that
12 alpha lipoic acid is not an FDA-approved drug, and
13 it's expensive and time consuming to get IVs in a
14 doctor's office. So frequently, I'll start my
15 patients on oral alpha lipoic acid, and after about
16 3 months, I'll reassess them. Some of my patients
17 have benefits, but the majority I would say, maybe
18 50 percent, don't, and they'll switch to
19 intravenous lipoic acid. Usually within 8 to 10
20 IVs, they usually start to notice sensation again.

21 Also, I have patients -- I have a patient
22 that I saw 2 or 3 days before I came out here with

1 ocular pharyngeal muscular dystrophy, and her
2 neuropathic symptoms have improved with intravenous
3 lipoic acid. She can't take oral lipoic acid
4 because capsules get stuck in her throat, and as an
5 acidic substance, it causes burning. So that's
6 really her only treatment.

7 Again, I want to give you a couple of
8 patient examples. I have a patient, Wendy, who's
9 had type 1 diabetes for 15 to 20 years. She runs
10 an architectural firm, and she needs to be sharp
11 and on point. She has very painful peripheral
12 diabetic neuropathy. She's tried the available
13 pharmaceutical agents, and every one that she's
14 tried has caused cognitive problems to the point
15 where she feels sleepy and can't function at work.
16 She's had relief of her symptoms with intravenous
17 lipoic acid.

18 Diabetes is a chronic disease, so one IV
19 series is not a cure. It mitigates the damage from
20 this debilitating disease. She comes about every
21 3 months from southern California to our clinic for
22 a week of IV therapy, and she's able to function in

1 the interim. And she says, cognitively, she's
2 actually improved.

3 I have another patient, Lisa, who has
4 ovarian cancer, and it's actually in remission from
5 heavy doses of chemotherapy appropriately
6 prescribed by her gynecological oncologist. But it
7 left her with debilitating neuropathic deficits
8 from toxin-induced neuropathy. Her oncologist sent
9 her to our clinic to try to have some improvement
10 in her symptoms. When I saw her, she said, "My
11 passion is really dancing, and I can't dance
12 because I keep tripping over my feet."

13 After 8 to 10 treatments of IV lipoic acid,
14 she said she began to notice return of some of her
15 sensation. She did IV lipoic acid once or twice a
16 week for 6 months, and after that 6-month period,
17 she had about 80 percent return. And she's in
18 dance classes and doing much better. There are no
19 treatments available for neuropathic deficits.

20 So again, where do we stand? Well, I agree
21 with FDA, lipoic acid is safe and effective for
22 neuropathy. And again, I emphasize, for some of

1 this, there's no equivalent treatment. Even the
2 FDA-approved drugs aren't equivalent in terms of
3 how they work and what we're asking of those drugs.

4 Furthermore, intravenous lipoic acid by
5 experience, by data, by studies, is safe,
6 effective, and stable. And I really thank you all
7 for your time and attention. But I want us to
8 really make sure that we stand up with my patients
9 like Wendy and Lisa.

10 Again, stand up for our families, for
11 ourselves, because if I developed painful or
12 neuropathic deficits with neuropathy, if my family
13 did, IV lipoic acid would be my first therapeutic
14 option. So please follow the science, and I know
15 that we'll come to a positive conclusion.

16 These are the Oregon mountains outside of
17 Las Cruces, and if you have any questions, I
18 welcome them. Thank you very much for your time.

19 **Clarifying Questions from the Committee**

20 DR. VAIDA: Thank you. We'll now take a few
21 clarifying questions. Again, remember to make
22 clarifications just on what was presented.

1 I'll start with one on, you said you've
2 administered over 75,000 IVs since 2002. Was that
3 by different compounding pharmacies or do you get
4 them all from one pharmacy?

5 DR. BERKSON: We've gotten them from at
6 least three compounding pharmacies that I could
7 think of. When you're administering something into
8 an IV, you have to be extremely careful about
9 quality control, and we ask a lot of questions. So
10 the majority of our IVs do come from McGuff.

11 DR. VAIDA: Mr. Mixon?

12 MR. MIXON: Bill Mixon. I'd like to see
13 that stability data that says that it's stable for
14 2 years. I'd like to evaluate that.

15 DR. BERKSON: I have that in a packet, so
16 I'd be happy to provide that to you. It's in
17 Spanish, but I think the scientific data is pretty
18 easy to pull out of it.

19 MR. MIXON: Were you able to evaluate it?
20 Did they do forced degradation and true stability
21 indicating assays?

22 DR. BERKSON: I believe so. But again, I'm

1 a clinician, not a pharmacist, so I leave that to
2 an expert like you to look at it and see.

3 MR. MIXON: Thank you.

4 DR. BERKSON: Yes, ma'am? Oh, sorry.

5 DR. JUNGMAN: This is Elizabeth Jungman, and
6 I apologize to be coming back to this. I have some
7 questions, but I understood Dr. Dohm's response to
8 be that this is actually -- and I'll start with
9 saying I appreciate the presentation, and I
10 appreciate you coming all the way out here to give
11 it. But my understanding of the response to my
12 earlier question was this is not actually the
13 meeting where we're considering IV formulations of
14 alpha lipoic acid, and that a vote for the solid
15 dosage form is not a vote against the IV
16 formulation.

17 So I just want to -- I'm still finding
18 myself a little bit confused about the question on
19 the table given this presentation.

20 DR. DOHM: So we did consider aqueous or
21 liquid formulations of ALA based on the information
22 that we had before us. That's the subject of our

1 review, and based on the information we had at that
2 time, we were recommending that only oral solid
3 dosage forms of ALA be placed on the 503A list.
4 That's where the review stands.

5 As Dr. Ganley mentioned, since then we've
6 been having additional information come in from
7 McGuff and hopefully information from this advisory
8 committee that we'll take into consideration. But
9 at this time, we're recommending only oral solid
10 dosage forms based on the information provided
11 before us.

12 If, as kind of Dr. Carome's follow-up said,
13 we take all the information we have from this
14 committee meeting, any information that is received
15 subsequent to it, and when we go to actually
16 propose a rule, we continue to feel that it is not
17 appropriate to place aqueous or liquid formulations
18 of ALA on the list, we'll be explicit about that in
19 the proposed rule. So at that time, we'll have a
20 proposed rule to put only oral solid dosage forms
21 if that's where we end up on the list.

22 So I think as far as your vote's concerned

1 and where you end up, what I would recommend is
2 that you'll vote on the issue of whether or not you
3 recommend solid oral dosage forms of ALA to be
4 included on the list and then subsequently provide
5 commentary on your views as to whether or not the
6 aqueous or liquid formulations of ALA should or
7 should not be placed on the list. And then we'll
8 take that commentary into consideration as well as
9 we continue to consider this issue.

10 DR. JUNGMAN: So this is the meeting
11 where -- this is the only meeting where this
12 committee will consider the aqueous formulation.
13 Is that right?

14 DR. DOHM: I think that is currently our
15 plan.

16 DR. JUNGMAN: That's super. That's helpful.
17 Thank you.

18 DR. BERKSON: May I have a comment to that?
19 Again, I think that there's efficacy with the oral
20 form, but I do not think it's equivalent in terms
21 of efficacy to the IV form. In no way it has been
22 my clinical experience, and I think the data

1 reflects that.

2 I think also in the studies that have been
3 presented by FDA, in their report, there are no
4 safety concerns with aqueous alpha lipoic acid. So
5 in these long-term studies and data that I hope
6 Doug will bring forward, I hope that further
7 clarifies any lacking information.

8 DR. VAIDA: Dr. Ikonomidou?

9 DR. IKONOMIDOU: Hi. Chris Ikonomidou.
10 Thank you very much. The FDA review basically
11 concluded that ALA does not alter the course of
12 diabetic neuropathy and does not have an effect on
13 autonomic neuropathy. Would you object to that?

14 DR. BERKSON: I'm not saying I object to
15 that. You know, a lot of these studies weren't
16 designed to look at long-term use. I did mention
17 the study out of Germany, 300 patients had been on
18 oral alpha lipoic acid for 5 years. And again I
19 reiterate, alpha lipoic acid is not a cure for
20 diabetic neuropathy.

21 So if you get 3 weeks of alpha lipoic acid
22 at point zero, and then you reevaluate those

1 symptoms five 5 years down the road, I would expect
2 they would progress because the patients still have
3 diabetes. So I don't think it's a one-time
4 treatment, and I think there need to be longer-term
5 studies to really evaluate what that looks like.

6 The other thing is I'm not objecting
7 that -- I'm not giving my opinion whether it works
8 or doesn't work for autonomic neuropathy. It's not
9 my opinion. I'm just saying in my search of the
10 literature, I'm presenting a study which showed the
11 potential of benefit.

12 Does that answer your question?

13 DR. IKONOMIDOU: Yes, in some ways. Thank
14 you.

15 DR. BERKSON: Okay.

16 DR. VAIDA: Dr. Wall?

17 DR. WALL: Two questions for you. One,
18 since you've had so many patients that you've been
19 administering this to, what do you share with your
20 patients, before they ever start this, about an
21 explanation behind this drug and its side effects
22 and in its adverse event profile? Then number two,

1 with that many patients for diabetic neuropathy,
2 can you share any experiences you've had within
3 patients with renal insufficiency or failure?

4 DR. BERKSON: Yes. Thank you for the
5 question. First off, I think it's very important
6 that we're explicit with any medication that we
7 give as far as risks and benefits. It's very
8 difficult. I work in community health as well. I
9 worked at a community health clinic in rural New
10 Mexico, first full time, then part time since 2006.
11 Sometimes I see 30 patients a day, and sometimes
12 35, in that context.

13 How could any of us really fully explain
14 risks and benefits of treatment in that system? I
15 think as a profession, we're all doing a bad job of
16 that. That's one. Two, in my office now, I see
17 about 8 to 10 patients in a full day. So I have
18 about 2 hours with new patients and about an hour
19 with follow-ups, 45 minutes to an hour. So it's a
20 little bit of an idyllic situation, so I really get
21 into the risks and benefits of any treatment that
22 I'm providing to them.

1 My discussion about intravenous lipoic acid
2 is basically based on the literature, one, there
3 really haven't been any reported serious adverse
4 events. I think it's fairly safe. But two, I
5 always tell patients you need to eat a good meal
6 because there's a significant chance of developing
7 hypoglycemia with the IVs.

8 I mention all the nonspecific symptoms that
9 sometimes our patients can experience like nausea,
10 somnolence, mild headache, those kinds of things.
11 But I also do mention with every patient that this
12 is not an FDA-approved drug. So we're very careful
13 about any kind of adverse event that are our
14 patient experiences.

15 Does that answer?

16 DR. VAIDA: Dr. Desai?

17 DR. BERKSON: Oh, the renal insufficiency?

18 DR. IKONOMIDOU: And the renal failure.

19 DR. BERKSON: Yes. With diabetics and with
20 other patients, we see a lot of renal
21 insufficiency. I have never seen an adverse effect
22 on kidney function. And actually in some cases,

1 I've seen improvements because a lot of the damage
2 that happens with diabetes is oxidative damage from
3 hyperglycemia in reactive oxygen species synthesis.

4 So theoretically, it should be helpful, but
5 I think theory always needs to be supported by
6 data, and I don't have the big study to back that
7 up.

8 DR. VAIDA: Dr. Desai?

9 DR. DESAI: DR. Berkson, I just wanted to
10 clarify, in terms of the oral formulation of alpha
11 lipoic acid, clearly you have so many more cases of
12 IV use in your practice, but do you still use oral
13 formulations in any of your patients exclusively?

14 DR. BERKSON: Yes.

15 DR. DESAI: And if so, when do you use oral
16 over your IV?

17 DR. BERKSON: I would say, personally, I
18 actually use more oral than IV just because of the
19 expense and the difficulty, the intrusiveness in
20 people's lives of coming in to get IVs. So very
21 frequently, I'll start with oral alpha lipoic acid,
22 and I will use alpha lipoic acid supplements for

1 other indications as well.

2 I feel reassured when a reputable
3 compounding pharmacy is making my product because
4 there are so many issues with supplements, so I
5 have to be very, very careful also before I
6 recommend a supplement, because I find that me just
7 saying go to your local pharmacy and buy an
8 over-the-counter supplement sometimes -- it's my
9 responsibility to also research reputable
10 formulations of those things.

11 DR. VAIDA: Mr. Smalley?

12 MR. SMALLEY: Thank you, Dr. Berkson. In
13 order to understand what I believe will be a
14 follow-on presentation on stability, I want to ask
15 you something about the dosage form.

16 DR. BERKSON: Okay.

17 MR. SMALLEY: I notice in your presentation
18 that two versions of the formulation are 600
19 milligrams and 24 mLs and 600 milligrams and 15
20 mLs. Is this injection prepared like in water for
21 injection and given an IV push? Do you use a
22 solubilizing agent or a stability agent along with

1 that?

2 DR. BERKSON: Yes. So as far as the
3 specific formulation from the pharmacy, I think
4 that's best addressed by the pharmacist. In our
5 clinic, if you just give it as a dilution -- I
6 think the dilution forms are 40 milligrams per mL
7 or 25 milligrams per mL, but that would be way too
8 concentrated to inject in a patient's vein, so we
9 dilute it out in D50 typically or normal saline.
10 And we give it slowly over about 45 minutes.

11 So I think the point about looking at
12 duration of treatment, the logistics of giving the
13 IVs, I think that's an important point.

14 MR. SMALLEY: Thank you.

15 DR. CHELIMSKY: I have a question. I was
16 just looking in detail at the abstract. I couldn't
17 get the whole paper on the autonomic -- I'm an
18 autonomic neurologist by the way, so I --

19 DR. BERKSON: Oh, good. So you should
20 answer this question probably, but go ahead and ask
21 it.

22 DR. CHELIMSKY: No. I think you'll be able

1 to answer it better than I can. How many
2 studies -- first of all, the results here were very
3 impressive. They had 46 patients that were treated
4 with IV alpha lipoic acid and 29 controls. And
5 it's really unheard of, so I have to go back and
6 look at this paper in detail. It's just unheard of
7 to improve the vagal function from 3 beats per
8 minute to 10 beats per minute, where there was no
9 improvement in the control group. In fact, their
10 orthostatic pressures changed positively.

11 My question to you is, most of the trials
12 that I'm aware of alpha lipoic acid in autonomic
13 neuropathy, mostly diabetic, are with oral. How
14 many are you aware of that use IV?

15 DR. BERKSON: I think there's a paucity of
16 evidence with IV, but I think this study is
17 impressive enough to include in a presentation.
18 And I think my clinical experience reflects the
19 impact of IV lipoic acid. I have to be cautious
20 using my clinical experience to say this is what
21 happens. But in my clinical experience, patients
22 do have improvements in orthostasis, at least. I

1 ask them about that.

2 DR. CHELIMSKY: Okay. But my question was,
3 how many studies are you aware of --

4 DR. BERKSON: I don't know --

5 DR. CHELIMSKY: Is this the only one or are
6 there others?

7 DR. BERKSON: In my search of the
8 literature, it was a brief search. This was the
9 only study that I came across.

10 DR. CHELIMSKY: It's amazingly impressive.
11 So it's either we're missing a very effective agent
12 or this data are made up, but this is incredible.

13 DR. BERKSON: Here's something, too, is I
14 think that more evidence is with peripheral
15 neuropathy in diabetes, but the pathophysiology of
16 autonomic neuropathy and painful peripheral
17 neuropathy is the same. Theoretically, it should
18 help, but we have to see bigger, longer-term
19 studies.

20 DR. CHELIMSKY: Well, we can go offline and
21 talk about that, but I actually don't agree with
22 you.

1 DR. BERKSON: Okay. Like I said, yeah,
2 thanks.

3 DR. VAIDA: Thank you. Dr. Ganley wants to
4 make a comment.

5 DR. GANLEY: Charley Ganley. We have a copy
6 of a label, which we believe is a translation, the
7 German label. And in that, it suggests that with
8 rapid infusion, you may end up with anaphylaxis or
9 hypoglycemia. In the course of our review of the
10 literature, these issues really didn't come up a
11 lot.

12 Now, it's interesting to hear you say that
13 you are aware of the hypoglycemia. And our
14 question I think has to do with a compounded drug
15 doesn't have a label. How is a clinician supposed
16 to know the rate of infusion if there's no label
17 that's going to warn them if you infuse it too
18 quickly? And this includes the studies that we
19 referred to in the diabetic neuropathy. There's no
20 mention of this.

21 We haven't had reports of hypoglycemia
22 reported to FDA, so it's just a little

1 disconcerting that you've experienced this.
2 There's not much in the literature. The Germans
3 seem to know it.

4 DR. BERKSON: Yes.

5 DR. GANLEY: So you understand the dilemma
6 here. We're talking about compounded drugs that
7 don't have a label. How's a clinician who may want
8 to try it for a patient, which may be a very
9 reasonable thing to think about --

10 DR. BERKSON: Right.

11 DR. GANLEY: -- how are they going to know
12 that I can't infuse it over a certain period of
13 time?

14 DR. BERKSON: Well, I do think that's a
15 responsibility, as part of the education, of a
16 compounding pharmacy when taking out a substance
17 that is not FDA approved, that there's an
18 educational component to it. I also think that any
19 time a clinician takes on a treatment for a
20 patient, they are taking on that responsibility,
21 and they should fully understand and have a -- they
22 should have a comprehensive understanding of what

1 they're prescribing, whether it's an FDA-approved
2 drug, whether it's an off-use drug, or whether it's
3 a compounded substance.

4 I don't know that that answers your
5 question, but I think we have a huge responsibility
6 as pharmacists, as physicians, to understand every
7 agent that we're prescribing to them.

8 DR. VAIDA: Dr. Patel? Dr. Khurana?

9 DR. KHURANA: Thank you for the
10 presentation. I just have a slightly different
11 question. When you talk about giving infusions,
12 are these covered by the insurance companies or are
13 they paid out of pocket?

14 DR. BERKSON: They're paid out of pocket.

15 DR. KHURANA: Thank you.

16 DR. VAIDA: Dr. Sun?

17 DR. SUN: Thank you for your presentation.
18 I just had two questions on the administration of
19 it. I think some of the studies you cited, it was
20 a daily injection for 3 weeks, and then some of the
21 case studies you presented was an injection 2 to
22 3 times a week over several years. Can you comment

1 a little bit on that?

2 DR. BERKSON: I can. We have patients who
3 fly from all over the country to come in. When
4 they have an intake, when we talk to them, we tell
5 them we recommend for diabetic neuropathy to stay
6 for 3 weeks and get IV alpha lipoic acid daily.
7 Because of cost issues, some of our local patients
8 have been doing the infusions 2 to 3 times a week,
9 and they seem to have similar effects. So just
10 logistically is why we changed that
11 protocol.

12 DR. SUN: My second question is, I know that
13 you alluded to a later presentation on stability,
14 but typically how much time elapsed between when
15 something is compounded and when you finally
16 administer it to a patient?

17 DR. BERKSON: You know what? I'm going to
18 defer that to Doug Tram [sic] in his pharmacy
19 presentation.

20 **Open Public Hearing**

21 DR. VAIDA: We're going to move on to our
22 open public hearing. And again, if we have some

1 other questions that come up during the vote, if we
2 have questions to bring --

3 DR. BERKSON: Thank you.

4 DR. VAIDA: We have three speakers, and I'll
5 make the opening statement.

6 Both the Food and Drug Administration and
7 the public believe in a transparent process for
8 gathering information and decision making. To
9 ensure such transparency at the open public hearing
10 session of the advisory committee meeting, FDA
11 believes that it is important to understand the
12 context of an individual's presentation.

13 For this reason, FDA encourages you, the
14 open public hearing speaker, at the beginning of
15 your written or oral statement to advise the
16 committee of any financial relationship that you
17 may have with the product and if known its direct
18 competitors. For example, this financial
19 information may include payment by a bulk drug
20 supplier or compounding pharmacy of your travel,
21 lodging, or other expenses in connection with your
22 attendance at this meeting.

1 Likewise, FDA encourages you at the
2 beginning of your statement to advise the committee
3 if you do not have any such financial
4 relationships. If you choose not to address this
5 issue of financial relationships at the beginning
6 of your statement, it will not preclude you from
7 speaking.

8 The FDA and this committee place great
9 importance on the open public hearing process. The
10 insights and comments provided could help the
11 agency in this committee in their consideration of
12 the issues before them. That said, in many
13 instances and for many topics, there will be a
14 variety of opinions.

15 One of our goals today is for this open
16 public hearing to be conducted in a fair and open
17 way where every participant is listened to
18 carefully and treated with dignity, courtesy, and
19 respect. Therefore, please speak only when
20 recognized by the chair. Thank you for your
21 cooperation.

22 Our first speaker?

1 MR. FILOSI: Good morning. My name is Mark
2 Filosi. Thank you for having me here today. I am
3 a technical advisor for MEDISCA. I also own my own
4 compounding pharmacy in Plant City, Florida, and
5 I'm also a teacher of three different compounding
6 pharmacy programs.

7 I'd like to talk to you about alpha lipoic
8 acid. Alpha lipoic acid is a very simple molecule
9 that happens to be sensitive to heat and light as
10 described by Dr. Brave. Also, it exists as two
11 enantiomers.

12 Although likely to be stable when
13 compounded, appropriately stored as the solid.
14 Dosage forms, as we discussed, in the aqueous
15 formulations are less stable. This is because the
16 salts that are used in aqueous solutions to improve
17 solubility have the tendency to polymerize, and
18 therefore the drug substance is unlikely to be
19 stabled when compounded in an aqueous solution if
20 using those salts.

21 Now, that's not the only choice compounders
22 have to make a preparation for their patients. To

1 circumvent this process, the preparation can be
2 prepared and lecithin. If we look at some evidence
3 of soy in lecithin palmitate oil, we can prepare
4 ALA in a lipophilic vehicle. And compounders use
5 lipophilic vehicles all the time to move formulas
6 from one place to the next.

7 This topic addresses the carrying capacity
8 of the drug in the delivery system, so it's
9 directly correlated to the solubility in its base.
10 Of course solubility in its base in a carrying
11 capacity is inversely proportional to driving
12 force, and that would be $\text{LogP} [\text{ph}]$ over the drug
13 partitioning out of its base.

14 In a study, Takagchi investigated the use of
15 cyclodextrin to improve aqueous and thermal
16 stability of alpha lipoic acid. This gives
17 formulators an alternative to lipophilic substrates
18 to carry the molecule. Cyclodextrin acts as a
19 protector of the molecule that it hosts. It acts
20 like a host and a guest. Dextrin molecules will
21 arrange themselves in a cylindrical pattern, and
22 the molecule, the guest, would become complexed

1 with the inner part of the structure. So that's
2 also a possibility for compounders to use
3 cyclodextrin.

4 Looking at the mechanisms of action, they
5 call ALA the universal antioxidant. We have
6 ascorbic acid for hydrophilic antioxidants. We
7 have alpha tocopherol for lipophilic antioxidants.
8 Alpha lipoic acid is both. It's known to be an
9 amphipathic molecule because it has both a polar
10 and a non-polar region in the molecule. As
11 discussed previously, it cycles back and forth
12 between its oxidized and reduced forms and
13 functions as an antioxidant in both forms.

14 One of its activities is to improve glucose
15 in ascorbate handling. It also increases
16 endothelial nitrous oxide and improves nitrous
17 oxide at the neuronal endothelium, and might be
18 responsible for decreasing the oxidative stress on
19 the axon due to diabetes. Proposed also, it could
20 lower the expression of MMP-9 and VCAM-1 for the
21 repression of NF-kappa B [ph], which is an
22 incorrect expression, and it has been associated

1 with cancer. ALA may provide some protection.

2 Historical uses, it was discovered back in
3 1951. It was found to be a coenzyme in the Krebs
4 cycle. Of course, the Krebs cycle or the citric
5 acid cycle is the pathway for pyruvic acid in the
6 production of ATP for cellular energy. In the
7 '80s, it was recognized by the scientific community
8 as a powerful antioxidant. And ALA has been used
9 in countries like Germany for over 50 years safely.
10 In Germany, it's used as a therapeutic option for
11 diabetic peripheral neuropathy and retinopathy.

12 As far as historic uses, there's a study by
13 Hermann in 1996 that characterized the
14 pharmacokinetic profile of ALA in three different
15 dosage forms. A study used both the R and S
16 enantiomers and also used the racemic mixture of
17 that substrate, and the study included the use of
18 oral tablet solutions and intravenous solutions,
19 and all three demonstrated adequate
20 bioavailability.

21 With respect to safety, we've looked at
22 studies with the previous speaker, Dr. Berkson, and

1 he looked at both the ALADIN I, II, and III studies
2 and the SYDNEY-1 and 2 study trials. And if you
3 look at the amalgamation of all those studies
4 together, you can see the intravenous doses as high
5 as 600 milligrams a day for 3 weeks with no
6 significant adverse reported events, and doses of
7 up to 1800 milligrams per day for 6 months didn't
8 show any illicit adverse events.

9 In an Italian study, Parente et al., in a
10 retrospective study in 2017 analyzing the safety
11 data of ALA in 610 pregnant women, ALA was found to
12 be completely safe. Noted in the study, the
13 Italian Ministry of Health had no established upper
14 limit for the dietary supplement, which means that
15 it was deemed safe at relative doses.

16 The study demonstrated that ALA had a
17 protective effect on the fetus and may indicate
18 that ALA could ward off threatened miscarriage and
19 prevent preterm delivery. Obviously, this
20 particular group is a very sensitive patient group,
21 that of the pregnant female and the developing
22 fetus, and the data and study from Parente seemed

1 to indicate that it's reasonable to administer ALA.

2 To demonstrate that ALA has a positive
3 effect on diabetic neuropathy, the ALADIN I and II
4 studies both showed significant improvement in both
5 nerve conduction and superior to placebo. The
6 alternative therapies for those who lived with this
7 disease caused the patient to feel disconnected.
8 And in my experience, many patients that I see in
9 my practice often discontinue use of oral
10 gabapentin and pregabalin due to the side effects
11 of these mainstays in peripheral neuropathy
12 treatment.

13 The ORBOL [ph] study showed a reduction in
14 diabetic polyneuropathy symptom after 3 weeks of
15 ALA therapy at 600 milligrams 3 times a day. The
16 SYDNEY study used ALA at 600, 1200, and 1800
17 milligrams for 5 weeks, and the SYDNEY study also
18 showed improvement in neuropathic endpoints.

19 In another study by Bertolotto and Massone,
20 a study conducted in Italy again, demonstrated that
21 the combination of superoxide dismutase and alpha
22 lipoic acid improved both physiological attributes

1 such as nerve conductivity and also sympathomimetic
2 improvement such as improved sensory scores.

3 Patients were treated with both alpha lipoic acid
4 and the SOD at 140 international units per day for
5 a period of 4 months.

6 Combining two drugs with different
7 pharmacological mechanisms has the potential to
8 provide superior relief over monotherapy without
9 increasing side effects. A recent trial has
10 demonstrated greater analgesic efficacy with
11 pregabalin and duloxetine combination versus
12 monotherapy alone without an increased side effect
13 profile.

14 Although this was a positive finding, the
15 additive benefit was submaximal because these two
16 agents caused some similar adverse events, and
17 doses must be reduced during the combination
18 therapy to maintain safe tolerability. Thus, we
19 hypothesized that analgesic combinations containing
20 at least 1 non-sedating agent would provide greater
21 additive benefits because of additive pain relief
22 but not having the additive adverse events.

1 Both pregabalin and alpha lipoic acid are
2 approved by Health Canada and proven for the
3 treatment of neuropathic pain. An important
4 pharmacological mechanism of pregabalin is the
5 blockade of anti-voltage gated calcium channels
6 resulting in decreased calcium influx in
7 neurotransmitter release.

8 ALA has been studied in both preclinical and
9 clinical neuropathic pain conditions in rat models
10 of streptozotocin induced diabetes. ALA delayed the
11 onset of polyneuropathy. Mechanistic studies
12 suggest that decreased nociceptive sensitivity by
13 inhibition of T-type calcium channel distinct from
14 that of pregabalin, which inhibits N-type calcium
15 channels, suggesting a potential for the synergy at
16 these two different sites of action, making
17 pregabalin and ALA possibly a good choice to use
18 together.

19 While this is an old report -- and I believe
20 that Dr. Brave also touched on this -- in the
21 Western Journal of Medicine in 1976, there's also
22 use of ALA in mushroom poisoning. In this

1 particular study, there were only 11 cases reviewed
2 by the Western Journal of Medicine Study.
3 Phalloides mushrooms can produce life-threatening
4 symptoms as soon as 6 to 24 hours after initial
5 ingestion.

6 The problem is most patients don't always
7 show up within that time frame, and some of the
8 support medications that we have to use to support
9 the patient, such as the activated charcoal, might
10 not be effective at that point because of the
11 delayed ingestion of the mushroom. In that
12 particular study with the 11 patients that were
13 followed, 10 of them survived. The 11th patient
14 may not have fared so well because of his late
15 reporting of the symptoms to the hospital.

16 Lastly, we've got symptoms of burning mouth
17 syndrome, which there really are not any great ways
18 to treat this. With burning mouth syndrome, using
19 ALA combined with gabapentin at 300 milligrams
20 seems to show efficacy.

21 DR. VAIDA: Thank you. Our next speaker?

22 MS. WALL: Good morning. My name is Tammy

1 Wall, and I'm a food and drug law attorney, and I
2 also work on legislative matters on behalf of
3 several 503A compounding pharmacies and 503B
4 outsourcing facilities. My statement concerns the
5 composition of this committee and not the substance
6 of the discussion. This is just where I was placed
7 in queue.

8 There are only 12 voting seats on the
9 Pharmacy Compounding Advisory Committee, and each
10 voting member must bring relevant expertise and
11 impartiality to the work of the committee. PCAC is
12 tasked with making critical recommendations to FDA
13 on individual bulks substances and in identifying
14 demonstrably difficult-to-compound substances. The
15 recommendations made by PCAC will directly impact
16 both 503A and 503B operations, and most importantly
17 will impact a patient's access to medications.

18 My concern regarding the composition of PCAC
19 is twofold. The first is the lack of the expertise
20 of a compounding pharmacist from a community
21 healthcare setting. This perspective is imperative
22 to fully understand the pharmacy compounding model

1 and the valuable role pharmacy compounding plays in
2 our healthcare system and in the daily lives of
3 individual patients.

4 The second concern is the appearance of any
5 conflict held by voting members. For example,
6 there's a current voting member, Pew Charitable
7 Trust, that has in times past been on the Hill
8 alongside commercial interests with the less than
9 neutral message on pharmacy compounding by
10 co-hosting a briefing and signing off on joint
11 statements to Congress.

12 Healthy debate and hearing perspectives from
13 all angles will result in stronger recommendations
14 to FDA, however, the voting seats must be held by
15 impartial interest to maintain the integrity of
16 PCAC and to ensure the recommendations made to FDA
17 are independent of commercial influence. I make
18 this statement to underscore the need for and the
19 importance of a balanced committee with objective,
20 diverse, and relevant expertise. Thank you.

21 DR. VAIDA: Thank you. And our final
22 speaker?

1 DR. TRAN: Good morning. Thank you for
2 allowing me the opportunity to speak. My name is
3 Doug Tran. I am a compounding pharmacist, and I
4 work for McGuff Compounding Pharmacy Services,
5 Incorporated in California. I'm here to address
6 any concerns that the panel has regarding the
7 aqueous stability of our compounded ALA injections.

8 We are probably the only compounding
9 pharmacy that conducted a formal stability study
10 for our compounded lipoic acid injection. Yes, of
11 course, alpha lipoic acid is very poorly water
12 soluble, however, according to the ALZ chemical,
13 SDS, safety data sheet, alpha lipoic acid is water
14 soluble in sodium hydroxide solution. And if the
15 sodium hydroxide and the hydrochloric acid
16 composition is optimal, alpha lipoic acid is stable
17 in aqueous solution. We compound two strengths,
18 one, 25 milligrams per mL and 40 milligrams per mL.

19 All the information that I'm presenting we
20 have uploaded to the docket, so you have it. And I
21 also emailed it to Dr. Fajiculay and Lieutenant
22 Hallman.

1 When we conducted the stability study for
2 our compounded lipoic acid injection, we assessed
3 the appearance of the solution, the appearance of
4 the vial, the appearance of seal. We also assessed
5 for visible particulate. We performed endotoxin
6 tests. We performed enhancement and inhibition
7 method suitability to validate our endotoxin test.

8 We conducted sterility tests at time zero
9 and at BUD. We also conducted the bacteriostasis
10 and fungistasis to validate our sterility test.
11 We performed potency assay at time zero and
12 post-BUD using the HPLC method. We also conducted
13 container closure integrity test, which is the dye
14 immersion test according to USP, at post-BUD, and
15 it passed.

16 For the multiple dose formulation that
17 contains an antibacterial, we also assayed the
18 concentration of the antibacterial at time zero and
19 at BUD. We also conducted the antimicrobial
20 effectiveness test for the multiple dose injection.

21 We conducted a real-time study to support
22 the BUD. According to our study, the one that we

1 just presented, our assigned BUD is at least 180
2 days. We know that it's stable in aqueous solution
3 for our compounded lipoic acid injection for at
4 least 180 days. We could have extended it, but
5 then the California Board of Pharmacy regulation,
6 we cannot exceed 180 days for the labeling, so
7 there's no reason for us to conduct a longer study.

8 As you can see, the two concentrations that
9 we compound, these are the tables for the result of
10 the lot assays for our 25 milligrams per mL and 40
11 milligrams per mL. The reason we have two
12 concentrations, the 25 milligrams per mL is for the
13 physician who uses lower doses of the injection,
14 and the 40 milligrams per mL, it makes it easier
15 for the physician that uses 600 milligrams per mL.
16 All they do is just withdraw 15 mL to get the
17 600-milligram dose. It's just for convenience and
18 to facilitate the administration in the office.

19 This is the table that summarizes our
20 stability study over the 180 days, all the
21 attributes that we assess, and those are the core
22 numbers for our study. Again, the study that we

1 conducted was real-time data from day zero to 180
2 days and longer.

3 As Dr. Berkson has mentioned, he has
4 administered several doses of alpha lipoic acid
5 infusion. From late 2011 to the present day, we
6 have dispensed more than 70,000 doses or vials of
7 lipoic acid injection, both concentrations, and we
8 have received no reports of precipitation, color
9 change, or other signs of chemical instability, or
10 ever received by the pharmacy.

11 To address Dr. Ganley's concern, on our
12 label for each vial, we have a cautionary statement
13 for the physician. Consult a pharmacist for
14 chemical compatibility and incompatibility with
15 lipoic acid injection.

16 For the physician, prescriber, and end user,
17 for the first time they get lipoic acid injection
18 from us, myself and other pharmacists, we go
19 through a -- we call it a counseling session. We
20 inform the physician, and we advise them how to
21 dilute, what to dilute; for example, a normal
22 saline, D5W, to cover the bag with aluminum foil or

1 amber plastic and use it as soon as possible or
2 within an hour. Also, we advise on what to mix
3 with and what not to mix it with. We advise them
4 not to mix it with any other ingredients, just
5 lipoic acid and D5W or normal saline.

6 In conclusion, we cannot speak for other
7 pharmacies, but the McGuff Compounding Pharmacy,
8 for McGuff compounded lipoic acid injection, we do
9 have stability information that support 180 days
10 BUD.

11 May I add a personal statement? My sister
12 has chronic fatigue syndrome, and my brother in
13 law, who's married to my sister, he has idiopathic
14 peripheral neuropathy. Six years ago, his
15 neurologist told him that he would be wheelchair
16 bound, but he's been on lipoic acid treatment for
17 6 years. He's still running and he's still
18 walking. So it is a viable option. And I would
19 not make something that's not stable for my loved
20 ones. Thank you.

21 **Committee Discussion and Vote**

22 DR. VAIDA: The open public hearing portion

1 has now concluded, and we will no longer take
2 comments from the audience. We will now begin the
3 panel discussion of alpha lipoic acid. And the
4 question before us is the FDA is proposing that
5 alpha lipoic acid solid, oral dosage form be
6 included on the 503A bulk list. Should alpha
7 lipoic acid solid oral dosage forms be placed on
8 the list?

9 I'll now entertain any discussion before we
10 take the vote? Dr. Desai?

11 DR. DESAI: Just a procedural question, and
12 I think I should direct it to Julie. And thank you
13 for clarifying earlier because I had a comment
14 similar to Elizabeth.

15 Is there a mechanism in this PCAC setting
16 that if we vote just on oral, which is what is
17 before us today, that another formulation of the
18 same ingredient then be brought back to a
19 subsequent advisory committee meeting for review?
20 So for example, today we vote on oral. Could
21 intravenous then be brought back since we're
22 technically not voting on intravenous?

1 DR. DOHM: I think what would be helpful is
2 if you could vote on the issue before you today and
3 include in your comments your current assessment
4 and whether or not you'd recommend that it be
5 brought back to the PCAC.

6 DR. DESAI: Thank you, Julie.

7 DR. BORMEL: You could also comment on your
8 thoughts about other formulations.

9 DR. VAIDA: All right. No further
10 discussion?

11 DR. BOGNER: Thank you. Robin Bogner. What
12 do we know about the degradation products of alpha
13 lipoic acid in aqueous solutions? If it's
14 degrading to this DHLA, and we know they
15 interconvert, is this as big of a problem as we're
16 trying to guard against?

17 DR. ZHANG: This is Ben Zhang from FDA. We
18 know that ALA was degrading to DHLA in aqueous
19 solutions, and the DHLA will further going through
20 polymerization to form oligomers or polymers in the
21 aqueous solutions.

22 DR. BOGNER: And are the oligomers or

1 polymers reversible?

2 DR. ZHANG: It is unlikely it will go back
3 to ALA.

4 DR. BOGNER: Do we know anything about the
5 timeline, the kinetics of that?

6 DR. ZHANG: We have some data showing that
7 at 100 percent humidity, at 25 degrees, 20 percent
8 of the ALA decompose after 48 hours.

9 DR. BOGNER: That's in the solid state.
10 That's not an aqueous formulation.

11 DR. ZHANG: That's in the solid state. We
12 have limited access to any stability that are in
13 aqueous solutions.

14 DR. BOGNER: Thank you.

15 DR. VAIDA: Okay. Thank you. We'll now
16 proceed to the vote. Each voting member has three
17 voting buttons on their microphone.

18 You have more discussion?

19 DR. GHANY: I just had one quick question.
20 This particular compound is being asked for I think
21 4 or 5 certain clinical indications. Are we to
22 consider that if we vote yes, it will be approved

1 for each of those indications?

2 DR. BORMEL: Gail Bormel, FDA. We're not
3 approving any drug here, but what you're voting on,
4 again, you're balancing the criteria. We do not
5 put -- when we put a drug on the 503A bulks list,
6 we don't specify the condition or disease that it's
7 to treat. So once you put it on the list, it can
8 be used, provided it goes through rulemaking and we
9 have a final rule. It can be used for what the
10 clinician determines it should be used for.

11 DR. VAIDA: Thank you.

12 Each of the voting members has three buttons
13 on their phone, yes, no, and abstain. Please vote
14 by pressing your selection firmly. After everyone
15 has voted, the vote will be complete.

16 The question, once again, is FDA is
17 proposing that alpha lipoic acid solid, oral dosage
18 forms be included on the 503A bulks list. Should
19 alpha lipoic acid oral dosage form be placed on the
20 list?

21 If you vote no, you are recommending that
22 FDA not place the bulk drug substance on the 503A

1 bulks list. If the substance is not on the list
2 when the final rule is promulgated, compounders may
3 not use the drug for compounding under 503A unless
4 it becomes the subject of an applicable USP or NF
5 monograph, or a component of an FDA-approved drug.

6 If there is no further discussion, we'll now
7 begin the voting process. Please press the button
8 on your microphone that corresponds to your vote.
9 You will have approximately 15 seconds to vote.
10 After you have made your selection, the light will
11 continue to flash. If you are unsure of your vote,
12 please press the corresponding button again. Thank
13 you.

14 (Voting.)

15 DR. FAJICULAY: For the record, the results
16 are 17, yes; zero, no; zero, abstain.

17 DR. VAIDA: Thank you. We'll now begin for
18 the voting members -- and we'll start with
19 Dr. Ghany -- to please state your name, your vote,
20 and any comment.

21 DR. GHANY: Thank you. This is Marc Ghany.
22 I voted yes, and I do have a comment. I would

1 suggest that this compound not be used for patients
2 with chronic hepatitis C. We have a very
3 effective, safe therapy for chronic hepatitis C,
4 and I would argue that it's probably unethical to
5 use this drug in someone with hepatitis C when
6 effective therapy exists.

7 DR. VAIDA: Next? Dr. Chelimsky?

8 DR. CHELIMSKY: I had no comments. Do I
9 need to explain why I voted yes?

10 DR. FAJICULAY: Your name and your vote.

11 DR. VAIDA: Your name, your vote, and if you
12 have any comment, please.

13 DR. CHELIMSKY: Yes. My name is Tom
14 Chelimsky, my vote was yes, and I have no comment.

15 DR. VAIDA: Thanks.

16 DR. KHURANA: I'm Sandeep Khurana. I voted
17 yes. I just have a couple of comments, too. Even
18 though the bulk of the data was based on IV drug
19 formulations, I just want to be clear that we are
20 not voting on that. We are voting on the oral
21 formulation.

22 Number two, I do agree that there is no

1 evidence so far to support its use in any kind of
2 liver disease, chronic or otherwise, due to the
3 lack of drug trials. And number three, that I'm
4 voting this yes only primarily for the diabetic
5 neuropathy indication. Thank you.

6 DR. IKONOMIDOU: I'm Chris Ikonomidou. I
7 voted yes. I would also like to comment that of
8 this compound, I'm not voting for this compound to
9 be used for cancer, fibromyalgia, or liver disease
10 because there are no supporting data. And I would
11 also like to comment that I would recommend that
12 the IV formulation be brought back for discussion.
13 Thank you.

14 DR. SUN: Jeanne Sun. I voted yes. I would
15 like to comment that -- I would suggest that this
16 bulk substance be added to the list without any
17 qualifications on the dosage forms, especially with
18 the compelling efficacy and stability discussion
19 that we had on the liquid and IV formulation.

20 DR. DESAI: I'm Seemal Desai. I also voted
21 yes. I also would like to comment, similar to my
22 colleague Jeanne Sun, that I do think the

1 intravenous formulation should also be brought back
2 for discussion. I was particularly impressed with
3 the data presented by Dr. Berkson of over 75,000
4 cases of patients that have been treated with no
5 major adverse events.

6 Further, I think I was impressed that the
7 representative from McGuff who presented more
8 specifics to answer our questions on how this
9 product was really infused and how the clinicians
10 are really instructed to use this gave me a good
11 amount of confidence that this is being done in a
12 controlled way, especially with the number of
13 patients that have been treated. So I would
14 encourage us to look at the IV formulation again
15 also.

16 DR. JUNGMAN: This is Elizabeth Youngman
17 from the Pew Charitable Trust. I voted yes. With
18 respect to the IV formulation, I understand FDA is
19 continuing to evaluate that. I think it will be
20 interesting as part of that evaluation to
21 understand whether -- if some compounders are able
22 to resolve some of the production concerns and

1 stability concerns if you have some assurance that
2 that would be generally applicable or something
3 that only a specialized few are able to do.

4 I share Dr. Ganley's question about how
5 physicians are going to know about the risk of the
6 product. But that said, there were a number of
7 factors weighing in favor of including the aqueous
8 formulation as well. I don't know that I have an
9 opinion about whether it comes back to the
10 committee. I understand that FDA has a ton of bulk
11 drug substances to work through, and that there are
12 public health benefits to going ahead and
13 completing that process.

14 One option to consider might be that if FDA
15 decides to recommend including it, depending on how
16 this conversation goes, that you go forward. And
17 if you decide that you're not going to and were
18 going to cut off patient access to that
19 formulation, that that would be a circumstance
20 where you'd want to bring it back to the committee.

21 DR. WALL: Donna Wall. I voted yes. I
22 think that we have a product here that looks like

1 it may be advantageous to many of our folks. I
2 really appreciate Dr. Berkson's comments on
3 educating the patient and working with the pharmacy
4 and the patient to make sure that everybody is
5 transparent and knows exactly what everyone is
6 getting into.

7 I appreciate his comments, too, on some of
8 the side effects that he has seen with the
9 hyperglycemia. Things like that need to be more
10 quickly reported so that we all can make
11 appropriate decisions going forward.

12 I also agree with the comments on the IV
13 formulation. I think it needs a little bit more
14 study, but if it can be shown that it can be stable
15 and work well for patients, we should go forward.

16 DR. CAROME: Mike Carome. I voted yes. I
17 think there's sufficient data to show that the
18 safety benefit profile for the oral formulation for
19 use for diabetic neuropathy, it's appropriate to
20 have it be on the list. For my colleagues on the
21 committee, once it's on the list, it can be used
22 for any indication. So you voiced your desire for

1 its restrictions for certain uses, but a physician
2 can prescribe it for anything and have a
3 compounding pharmacy make it for anything once it's
4 on the list. So it's important to understand that.

5 In terms of the IV use, I ultimately would
6 want to hear FDA's independent assessment of the
7 stability and safety of the IV formulation before I
8 would have an opinion on whether it should be put
9 on the list for that formulation. Whether it
10 should come back to the committee or not, I'm sort
11 of neutral on that. If FDA does an in-depth,
12 independent evaluation and perhaps they were to
13 articulate that in a detailed Federal Register
14 Notice, that might be an appropriate route.

15 DR. BOGNER: Robin Bogner. I voted yes, and
16 I agree with Jeanne that there be no restrictions
17 on the dosage form. I looked up the article on
18 beta cyclodextrin that was referred to, and it does
19 seem that there's an equilibrium between DHLA and
20 alpha lipoic acid, but the degradation, the
21 polymerization of DHLA seems to be quite slow. So
22 I suspect some have figured out how to use this. I

1 can look and show you the basis of my very quick
2 kinetic analysis at another time. I was also
3 influenced by Dr. Desai's discussion of the use
4 topically.

5 DR. VAIDA: Thank you. Allen Vaida. I
6 voted yes. My only comment is if it does come back
7 for a review of the IV, I think it was presented
8 well that the oral is safe. But the one thing with
9 the IV is I think, as already mentioned, with the
10 indications, we would have no control over the
11 indications. And this drug was -- also, some of
12 those indications that were mentioned were cancer
13 and hepatitis.

14 So my concern would be we would really want
15 to look at the IV because that could be then used
16 for a lot more than what was shown here at the
17 meeting today.

18 DR. PATEL: Kuldip Patel. I voted yes to
19 the oral formulation for the reasons, safety and
20 efficacy data shared by the FDA. For specifically
21 the indication of diabetic neuropathy, especially
22 in patients who are intolerant to the standard

1 therapies that are available or experiencing
2 adverse events, I was impressed with the data
3 shared by Dr. Berkson and McGuff Pharmacy.

4 Just as a comment, if the IV form is brought
5 back, one of the things that I struggled
6 with -- and I don't know if there's a specific
7 answer to this. But one thing that should be
8 considered as a difficulty in extrapolating
9 experiences like that is, while the data was
10 extensive, how do you apply that to the broader
11 general population, especially in a disease state
12 that's growing? That's all for my comments.

13 MR. HUMPHREY: William Humphrey, and I voted
14 yes for many of the same reasons already explained.
15 I do recommend that there be continued review and
16 evaluation of the injectable forms.

17 DR. HOAG: Steve Hoag. I voted yes, and I
18 agree with the use in the oral. And also, I think
19 the valuation of the IV should be continued.
20 Things like compatibility, how is it administered
21 with this drug, obviously if you change the pH or
22 something, it would precipitate. So there needs to

1 be a little bit -- it probably could be formulated
2 as an IV, but there needs to be some guidelines for
3 that.

4 DR. VAIDA: Thank you. And now our two
5 members on the phone, beginning with Dr. Gulur.

6 DR. GULUR: Hello. Thank you. This is
7 Dr. Gulur, and I voted yes for the oral
8 formulation. As a pain physician, I treat these
9 painful neuropathies myself. And while there's an
10 adjunctive role for this medication, as we start to
11 consider the intravenous formulation with concerns
12 for stability, which hopefully will be allayed by
13 more formal presentations or FDA review, indication
14 would still be something we're looking at as has
15 been indicated by other members on the committee.
16 My comment would be to review it more carefully and
17 ensure that it's brought back to the committee,
18 hopefully. Thank you.

19 DR. VAIDA: Dr. Venitz?

20 DR. VENITZ: Jurgen Venitz. I voted yes; a
21 few comments. First of all, as one of the outgoing
22 veterans of the committee, this was probably the

1 most persuasive presentation of evidence to support
2 a positive risk-benefit and extensive use bulk for
3 the oral and the IV formulation.

4 Number two, I am very positively inclined
5 towards the IV formulation, and my suggestion would
6 be that FDA, with the benefit of the additional
7 information that they now have on stability and the
8 additional clinical information that Dr. Berkson
9 presented, that they reinitiate their review. And
10 if they are inclined to do so, include the IV
11 formulation as well.

12 If they have concerns, only then would I
13 suggest that it come back to the committee for a
14 second review. But unless the FDA finds any
15 problems with stability or safety of the IV
16 formulation, I think it should be included.

17 My last comment is to some of my fellow
18 committee members. Yes, we do not approve
19 indications; we approve drug products. And I'd
20 like to point out that that is not really that much
21 different for NDA-approved drugs. They are
22 approved for indications, but they can be used in

1 practice by the physician for any indication that
2 they like to use them for. Thank you.

3 DR. VAIDA: Thank you. We'll now take maybe
4 a 5-minute break to 11:35, and please remember
5 there should be no discussion of the meeting topic
6 during the break amongst yourselves or with any
7 members of the audience.

8 DR. CHELIMSKY: Could I add a comment?

9 DR. VAIDA: Let's try to reconvene about
10 11:35.

11 DR. CHELIMSKY: Is it possible to still add
12 comments or no?

13 DR. FAJICULAY: No, we're done.

14 DR. CHELIMSKY: I had made no comment
15 before, and I wanted to add one. Is that still
16 possible? Afterwards?

17 DR. VAIDA: It's too late. I'm sorry. You
18 could let us know.

19 DR. CHELIMSKY: My comment basically was
20 that the findings in the autonomic neuropathy were
21 very dramatic, and that really should be followed
22 up for the IV form. And I just wonder if the IV

1 form has a different impact than the oral form.

2 But I've never seen anything like that.

3 DR. VAIDA: Okay. Thank you.

4 (Whereupon, at 11:29 a.m., a recess was
5 taken.)

6 DR. VAIDA: We'll start with Dr. Susan
7 Johnson to present from the FDA on Coenzyme Q10.

8 (Pause.)

9 DR. JOHNSON: I'm happy to proceed with the
10 paper slides if you'd like.

11 DR. VAIDA: Do you want to start? It is in
12 your handout that we could follow along until we
13 bring it up. Thank you.

14 **FDA Presentation - Susan Johnson**

15 DR. JOHNSON: We're starting with slide 1 on
16 the paper slides in your handouts. I'll give
17 everybody a chance to get that.

18 Good morning. My name is Susan Johnson, and
19 I'm from the Office of Drug Evaluation IV in CDER's
20 Office of New Drugs. I will now be discussing
21 coenzyme Q. Thanks for arranging for the slides,
22 fixing that up.

1 I'd like to recognize the entire review team
2 and note that the folks named in this particular
3 slide have worked on each of the substances that
4 are being discussed today. I'd also like to
5 welcome Dr. Sophia Hufnagel, a pediatric geneticist
6 from the Division of Gastrointestinal and Inborn
7 Error Products, who's here to help us address any
8 clarifying clinical questions regarding the rare
9 diseases that we'll be discussing this morning.

10 Coenzyme Q10 has been nominated for
11 inclusion on the bulk drug substances list under
12 Section 503A and is proposed for oral use in the
13 treatment of mitochondrial disorders. Coenzyme Q10
14 is a term that refers to one of two different
15 molecules, either ubiquinol, which is the fully
16 reduced form, or ubiquinone, the fully oxidized
17 form.

18 Each of these molecules is a benzoquinone
19 with 10 isoprenoid units in its side chain. The
20 all-trans isomer of ubiquinone is the substance
21 that's under consideration today and is the
22 substance most often referred to as CoQ10, so

1 that's the term that I'll be using.

2 It's an organic molecule with a well
3 characterized structure. Ubiquinol was previously
4 reviewed and presented to this committee in May
5 2017, and as we move forward with rulemaking for
6 both ubiquinone and ubiquinol, we'll be considering
7 discussion from today's meeting.

8 CoQ10 is not soluble in water. For this
9 reason, we recommend that it not be used in
10 intravenous formulations, but we note that only
11 oral formulations were proposed in the nomination.
12 The structure of CoQ10 suggests that it will have a
13 good stability profile under ordinary storage
14 conditions in oral formulations. Industrial
15 production is likely via microbial fermentation.
16 In conclusion, CoQ10 is well characterized and
17 likely to be stable in oral formulations under
18 normal storage conditions.

19 In healthy humans, CoQ10 is endogenously
20 synthesized, and the normal body pool is estimated
21 to be around 1 gram. CoQ10 is found in all plants
22 and animals, so we also have an exogenous supply in

1 our foods. It's estimated that we normally ingest
2 about 20 grams per day.

3 CoQ10 has many uses in the body, and it's
4 most well known for being an electron transporter
5 in the oxidative phosphorylation process that
6 generates ATP. This process generates more than
7 90 percent of the energy needed by the body. CoQ10
8 is also a cofactor and contributes to many other
9 essential processes, including cellular apoptosis.

10 Much of the understanding of CoQ10
11 pharmacokinetics is based on animal studies.
12 Because of CoQ10's insolubility, the formulation in
13 which CoQ10 is administered can substantially
14 affect bioavailability. In a study using a rat
15 model, administration of emulsion formulations
16 resulted in higher AUC and Cmax than did
17 administration of a crystalline CoQ10. Still, the
18 bioavailability is only about 2 to 3 percent of an
19 administered dose. In a dog model, it was shown
20 that Cmax and AUC increased to a plateau after 7
21 weeks of dosing, and there appeared to be no
22 further accumulation.

1 In humans without CoQ10 supplementation,
2 CoQ10 is measurable in the plasma and is found in
3 tissues like skeletal muscle where energy
4 requirements are greatest. With supplemental
5 CoQ10, a small portion of the dose is absorbed via
6 both passive and active transport across the
7 intestinal wall.

8 A 3-compartment pharmacokinetic model best
9 fits the available data with Tmax occurring within
10 6 to 8 hours of dosing, followed by a 6- to 12-hour
11 distribution phase, and then a long-term
12 elimination phase of 33 hours. Steady state is
13 reached in 3 to 4 weeks, and supplementation leads
14 to higher plasma concentrations than are seen in
15 the absence of supplementation, as you would
16 expect. The metabolism of CoQ10 has not been well
17 established.

18 Turning to nonclinical safety, repeat oral
19 dose toxicity studies have been conducted in
20 various species for a period of up to 52 weeks.
21 Given the limited bioavailability of ubiquinone, we
22 don't know how to characterize the systemic

1 exposure from these studies, but no toxicities were
2 seen.

3 There was no evidence of genotoxicity in
4 standard in vitro assays. No adverse events were
5 seen in reproductive toxicity studies in rats and
6 mice, but no developmental studies were found.
7 CoQ10 had no impact on the lifespan or tumor
8 formation in a 2-year mouse senescence study.

9 Clinical safety data include 19 FAERS cases.
10 Among these, there were 2 deaths in pediatric
11 patients with mitochondrial disorders, but both
12 appeared to be related to the underlying disease.
13 CoQ10 is currently marketed as a dietary ingredient
14 in dietary supplements, and there are 837 reports
15 in the CAERS system.

16 There were 8 deaths among the CAERS reports,
17 none of which appeared to be related to CoQ10. The
18 22 cases in which CoQ10 was the only supplement or
19 drug reported to have been used, showed no apparent
20 safety signal.

21 In three studies of CoQ10 in healthy
22 individuals with oral doses up to 3000 milligrams

1 per day, non-severe gastrointestinal symptoms were
2 the most commonly reported symptoms.

3 We found no studies designed to assess
4 safety of CoQ10 in patients with mitochondrial
5 disorders. It's been reported that CoQ10 has been
6 associated with a urinary marker of oxidative
7 stress at doses of 1200 milligrams per day and that
8 the safety of CoQ10 dosing for prolonged periods in
9 patients with mitochondrial disease has not been
10 well studied.

11 In a crossover study comparing treatment
12 with CoQ10 and nicotinamide in patients with
13 mitochondrial disorders, one patient died on the
14 39th day of CoQ10 treatment. This death was
15 reported by the investigators to have been
16 unexpected. An autopsy revealed cardiomyocyte
17 degeneration and active fibrotic changes in the
18 myocardium.

19 A second patient died during this study
20 while on nicotinamide treatment after completing
21 the CoQ10 arm of the trial and washout period.
22 Three additional patients died within 24 months of

1 the end of the trial. The authors did not
2 attribute the deaths to active treatment but did
3 observe that safety of CoQ10 may be dependent in
4 part on the severity of a patient's mitochondrial
5 dysfunction.

6 In general, CoQ10 appears to be associated
7 with non-serious adverse events, although most
8 safety data are derived from healthy individuals.
9 There's much less information available about the
10 safety of CoQ10 in patients with various
11 mitochondrial disorders.

12 This slide shows the synthesis of CoQ10 in
13 mitochondria where oxidative phosphorylation
14 occurs. It consists of a complicated series of
15 steps that provide for the sequential addition of
16 the 10 isoprenoid units, but you can see by the
17 question marks that has not been fully
18 characterized.

19 We looked at the efficacy of CoQ10 in the
20 treatment of primary CoQ10 deficiency. This is an
21 autosomal recessive rare disease that directly
22 affects CoQ10 biosynthesis pathways as shown on the

1 previous side. The presumed mechanism of CoQ10's
2 action is to reduce dependence on the CoQ10
3 synthesis process.

4 Primary CoQ10 deficiency has been associated
5 with 5 main clinical phenotypic groups and 9
6 genetic mutations. The clinical presentation is
7 highly variable, and diagnosis involves an
8 extensive systematic evaluation process.

9 Although we found no clinical studies of
10 CoQ10's use in primary CoQ10 deficiency, the
11 literature contains multiple reports of CoQ10's
12 effect in the treatment of this rare disease. In
13 addition, the Mitochondrial Medicine Society issued
14 guidelines in 2015 that recommend CoQ10 use in the
15 treatment of primary CoQ10 deficiency.

16 There are numerous other mitochondrial
17 disorders that do not directly affect the
18 biosynthesis of CoQ10. The current clinical
19 approach commonly identifies patients based on
20 their phenotypic presentation, and then genetic
21 evaluations are conducted to confirm diagnoses.

22 In the one randomized, double-blind,

1 placebo-controlled study that we identified, a dose
2 of 600 milligrams of CoQ10 given twice daily for 60
3 days for a total of 1200 milligrams per day was
4 compared with placebo in a crossover design.
5 Thirty patients were included, and among them,
6 there were 5 different mitochondrial diseases
7 represented. Although it was established using
8 plasma levels that CoQ10 levels increased with
9 supplementation, the authors concluded that CoQ10
10 lacked effect on most of the variables that they
11 measured.

12 The Mitochondrial Medicine Society 2015
13 guidelines say that evidence of CoQ10's effect is
14 sparse, but they recommend that CoQ10 be offered to
15 patients with a diagnosis of mitochondrial disease.
16 We note that prior to the MMS publication of their
17 2015 evidenced-based guidelines, MMS conducted a
18 survey of treating physicians and found that the
19 use of CoQ10 in patients with mitochondrial
20 disorders was common.

21 In conclusion, based on the small amount of
22 data, CoQ10 is recommended for use in the treatment

1 of primary CoQ10 deficiency. And while there are
2 no compelling data to establish the efficacy of
3 CoQ10 in the treatment of other mitochondrial
4 disorders, we note that in the absence of
5 FDA-approved therapies for these rare diseases,
6 CoQ10 is widely used.

7 It's noted that the various genotypes and
8 phenotypes for mitochondrial disorders create a
9 wide set of clinical presentations, and the
10 effectiveness of CoQ10 for particular uses or at
11 particular doses has not been established.

12 We found that CoQ10 has been compounded in
13 oral and other dosage forms since at least 1999,
14 but we don't have information to address the extent
15 of use of these compounded products. CoQ10 is
16 often one component of a mix of vitamins and
17 supplements prescribed to a mitochondrial disease
18 patient. The substances included in these mito
19 cocktails are not the same for each patient and are
20 tailored by the prescriber.

21 In summary, CoQ10 is well characterized and
22 likely to be stable in oral formulations at normal

1 storage conditions. It's considered generally
2 safe, although there is little safety information
3 derived from patients with mitochondrial disorders.
4 Based on literature reports and current guidelines,
5 CoQ10 is effective for the treatment of primary
6 CoQ10 deficiency and is used in the treatment of
7 other mitochondrial disorders. CoQ10 has a history
8 of having been compounded since at least 1999.

9 A balancing of the four evaluation criteria
10 weigh in favor of Coenzyme Q10 ubiquinone for oral
11 administration being added to the list of bulk drug
12 substances that can be used in compounding under
13 Section 503A. Thank you, and I'm happy to take
14 questions.

15 **Clarifying Question from the Committee**

16 DR. VAIDA: Thank you. We'll now have any
17 clarifying questions from the committee. Any
18 questions?

19 (No response.)

20 DR. VAIDA: No? Thank you, Dr. Johnson.

21 We'll now have time for the nominators, and
22 we have one presentation, Dr. A.J. Day from the

1 Professional Compounding Centers of America.

2 **Nominator Presentation - A.J. Day**

3 DR. DAY: Good morning, everybody. First,
4 as we get started with this presentation, I'd like
5 to acknowledge the FDA for both inviting to this
6 meeting, but as well as having a greatly enhanced
7 level of communication with the compounding
8 industry to notify us about this meeting as well as
9 the contents of the meeting, so that we could
10 prepare adequately and make sure that the
11 stakeholders are adequately notified. And we're
12 extremely grateful for that.

13 As we get started with coenzyme Q10, I'd
14 like to acknowledge some of the findings that FDA
15 elicited in their evaluation. Stability in
16 physical chemical properties are well defined. To
17 my knowledge, there are no compounded IV
18 formulations of coenzyme Q10.

19 The safety concerns both from nonclinical
20 data as well as from the FAERS and CAERS databases
21 do not raise significant concerns. Historical use
22 in compounding, the FDA has found evidence going

1 back to 1999. I've got a little bit of extra
2 information on that side and on the efficacy.

3 While the FDA does balance the various
4 criteria that are coming into play for the
5 determination of the appropriateness of coenzyme
6 Q10 on the 503A bulks list, they do identify some
7 concerns regarding the level of data that is
8 available for coenzyme Q10 in the treatment of
9 mitochondrial disorders. So I'd like to spend a
10 few minutes to address some of those concerns.

11 Now as we begin, we must acknowledge the
12 limitations in generating level 1 evidence for
13 mitochondrial disorders. And this is a
14 conversation that FDA did point out in the briefing
15 information. This is a rare disease. There are no
16 treatments which are dramatically effective and
17 small-scale trials exist.

18 There are a number of other bullet points
19 that I'm not going to spend a tremendous amount of
20 time because we are already quite behind on the
21 clock. But suffice it to say that there are a
22 number of challenges to developing and generating

1 clinical studies to produce level 1 evidence, and
2 much of what we see in mitochondrial disorders is
3 of level evidence of 4.

4 From the FDA's assessment, they do talk
5 specifically about primary coenzyme Q10
6 deficiencies, and they cite the 2015 article by
7 Parikh, which states that coenzyme Q10 does seem to
8 produce some very remarkable outcomes in a short
9 period of time for these patients.

10 Looking at the citations that FDA has gone
11 through regarding primary CoQ10 deficiencies, these
12 are all level 4 evidence trials, patient
13 populations between 1 to a maximum of 13 patients.
14 Something that I think should be pointed out is the
15 identification of a primary coenzyme Q10 deficiency
16 versus secondary is conducted via genotyping. And
17 genotyping was not the standard of practice until
18 recently. Around 2005-2007 is when the
19 recommendations changed.

20 All of the studies that were cited, only two
21 of them did genotyping that actually identified the
22 patients as having primary CoQ10 deficiency

1 Syndrome. One of these studies that was identified
2 in the FDA's reviewed -- in the article, data
3 identified as a primary disorder. However, the
4 disorder that they genotyped was ETFDH mutation,
5 which is by definition a secondary CoQ10
6 deficiency.

7 What is notable about these studies is that
8 all of these patients had remarkable outcomes from
9 relatively short-term therapies with coenzyme Q10.
10 The article from 2015 by Desbats and colleagues
11 does specifically talk about secondary coenzyme Q10
12 deficiencies, again noting ETFDH mutations, and
13 that although in these situations, CoQ10 deficiency
14 is a secondary phenomenon, it probably exacerbates
15 the symptoms caused by the primary molecular
16 defect. These patients often benefit from oral
17 CoQ10 supplementation even though the response is
18 not as dramatic as in those with primary forms.

19 FDA and Dr. Johnson did a good job of
20 talking about a lot of the different clinical
21 studies that we look at regarding what are
22 considered secondary CoQ10 deficiencies. The

1 Glover study particularly looked at 1200 milligrams
2 per day of coenzyme Q10 for 60 days, and they noted
3 that there were minor effects on cycle exercise
4 aerobic capacity and post-exercise lactate, but the
5 other clinically relevant variables were not
6 significantly altered.

7 Additionally, the article by Chen and
8 colleagues supported some of that but also
9 concluded that improvement might be noted after
10 6 months of coenzyme Q10 therapy. This time frame
11 to realize clinical outcomes and clinical benefit
12 is something that you'll see consistently
13 throughout all of the rest of the studies.

14 These were two specific studies. Both of
15 them were double-blind, crossover design trials,
16 relatively small patient populations that were
17 utilized. And the duration of the trial was
18 relatively short, 2 months for the Glover study and
19 3 months for the Chen study. Because of the study
20 design, the level of evidence is a little bit
21 higher, but again, the duration of therapy was
22 relatively short.

1 The Bresolin study was another one that
2 Dr. Johnson identified in their review of coenzyme
3 Q10. This one did go for a longer period of
4 therapy. It was a two-phase study. The first
5 phase was for 6 months; the second phase was for
6 3 months. They did identify a number of patients
7 who had long-term -- they ended up passing away
8 either during the trial or after the trial. They
9 specifically noted that this had to do with the
10 severity of the disease that some of these patients
11 were experiencing.

12 They also noted that any improvement brought
13 by CoQ10 therapy is probably maximal after
14 6 months; that the 3-month time frame is probably
15 too short at the time to show differences in the
16 clinically relevant parameters. And I misstated my
17 comments about the patient deaths. That was
18 another study that we'll get to, the Remes study.

19 Longer term studies that analyze the
20 utilization of coenzyme Q10 for 6 months or longer
21 do consistently show that there are benefits in
22 clinical outcomes. The Suzuki study from 1998

1 specifically studied a phenotype of mitochondrial
2 disorders known as MIDD, and one of the hallmark
3 symptoms of these patients is dramatic hearing
4 loss.

5 It was a placebo-controlled trial where the
6 patients with coenzyme Q10 therapy after the
7 3-month and 6-month follow-up period did not note
8 significant benefits, but after 6 months, 1 year,
9 2 year, and 3 year noted significant benefits in
10 preventing further loss of hearing. You can see
11 that in the graph on the far right. Your treatment
12 group stayed relatively flat, whereas your placebo
13 group had further loss in pure tone averages.

14 There's a follow-up study by Angeli. This
15 was a smaller scale, but again were looking at MIDD
16 patients, and they confirmed the results that were
17 found in the Suzuki trial. This was a 1-year
18 study, and they showed that you did not get further
19 deterioration of your hearing with CoQ10 as opposed
20 to placebo.

21 We do have a few different trials that are
22 looking at therapy at or longer than 6 months.

1 Most of these are open-label designs. But when
2 we're looking at appropriate time frames for
3 therapy and follow-up with our patients, we do see
4 consistent trends towards positive outcomes in
5 clinical response.

6 Again, Dr. Johnson identified the Remes
7 study as one that was particularly concerning. And
8 in the conclusion from the Remes study, they do
9 note specifically that the high mortality was
10 likely to indicate the fact that severely affected
11 patients were selected for the trial. The deaths
12 were not directly attributed to the CoQ10 therapy.

13 There are a number of other studies in
14 mitochondrial disorders. Most of them are
15 open-label trials, small patient populations, and
16 we see, again, consistent outcomes in clinical
17 response with coenzyme Q10 therapy. One
18 interesting study is the one at the very bottom of
19 this chart, the Sacconi from 2010. They had a
20 two-phase trial design as well.

21 They had 8 patients in this study that were
22 low in intramuscular CoQ10 levels and 15 patients

1 who had normal intramuscular levels. And they
2 noted that the patients who had low endogenous
3 intramuscular CoQ10 levels, 7 of the 8 patients had
4 11 positive clinical outcomes with muscle fatigue
5 and exercise tolerance, whereas only 1 of the 15
6 patients with normal endogenous CoQ10 intramuscular
7 levels saw significant improvement.

8 Now, in preparation for this meeting, we
9 wanted to make sure that we had a thorough
10 understanding of clinical impact on real-life
11 patients, so as part of that, we worked with some
12 pharmacies, compounding pharmacies, who tend to
13 specialize in this field, who work with a lot of
14 practitioners who are specialists in this field,
15 and we wanted to hear from the practitioners and
16 the patients.

17 This specific survey was sent to us by a
18 patient parent. The patient was a 6-year old male
19 who has been on compounded coenzyme Q10 for
20 1.7 years, specifically to treat mitochondrial
21 disorders that were not specified further, and
22 they're doing oral dosage forms of CoQ10.

1 Their statement is that CoQ10 has made a
2 huge difference in our son's quality of life. He
3 is less tired and can focus much better. We tried
4 to use the over-the-counter coenzyme Q10, but it
5 just made him hyper, and then he would crash.

6 Once we started using the compounded CoQ10,
7 he was like a different child, attentive and
8 needing less naps during the day. Gavin [ph] has a
9 G-tube and needs his supplements compounded to go
10 in the G-tube since he has severe acid reflux and
11 sometimes vomits after the meds are given if by
12 mouth.

13 It's a little bit difficult trying to juggle
14 the slide's transitions because I don't have that
15 screen right here. So I apologize for that.

16 In conclusion, from the evidence that we see
17 from the primary literature, we do see that there
18 is consistent positive outcomes in studies that are
19 6 months or longer, effects in an increasing
20 exercise tolerance by reducing serum lactate
21 levels, and it does show benefits in MIDD patients
22 by preventing hair loss and maintaining serum

1 calcium levels in patients with mitochondrial
2 disorders.

3 Now, that's the primary literature. Again,
4 there are a number of expert opinion papers and
5 guidelines on the appropriate therapy for
6 mitochondrial patients. Natural Medicines
7 Comprehensive Database specifically identifies that
8 it is likely effective for mitochondrial
9 encephalomyopathies. It has a number of different
10 literature citations as well as getting into the
11 safety profile for orally administered coenzyme
12 Q10.

13 In 2017, we have the guideline for the
14 diagnosis of pediatric mitochondrial disorders, and
15 they specifically look at the oral use of coenzyme
16 Q10 as part of this. And they say that empiric
17 therapy with thiamine, biotin, riboflavin, and
18 coenzyme Q10 at 15 milligrams per kilogram per day
19 might be considered in patients with rapidly
20 progressive or potentially life-threatening course
21 of disease.

22 In the Journal of Molecular Genetics and

1 Metabolism, we have another study by Camp et al. in
2 2016, where they say that CoQ10 should be
3 administered to most patients with a diagnosis of
4 mitochondrial disease and not exclusively for
5 primary CoQ10 deficiency. The five most frequently
6 used supplements were CoQ10 at 28 percent, followed
7 by levocarnitine, vitamin D, Riboflavin, and
8 vitamin C. All participants who were taking CoQ10
9 believe that this supplement was the most
10 beneficial in improving their or their child's
11 symptoms.

12 The 2017 summary paper from the
13 Mitochondrial Medicine Society states specifically,
14 if you look to the right-hand column, "A
15 combination of CoQ10 and riboflavin should be
16 considered for ETFDH related myopathies." The
17 current understanding of diagnosis and treatment of
18 rare mitochondrial disorders, published by Bhaskar
19 and colleagues in 2016 identified coenzyme Q10 as
20 one of the mainstays of treatment for several of
21 the top 30 mitochondrial disorders.

22 FDA itself has acknowledged the value of

1 coenzyme Q10. They have given orphan drug
2 designation, although it is not an orphan
3 drug-approved status to this molecule, orphan drug
4 designation to an oral formulation of coenzyme Q10
5 for the treatment of mitochondrial cytopathies.

6 In conclusion, we do see that CoQ10 has
7 demonstrated some beneficial effects in various
8 mitochondrial diseases regardless of endogenous
9 coenzyme Q10 levels. Patients with an underlying
10 deficit in CoQ10 status may be more
11 responsive -- and this is our primary CoQ10
12 deficiencies -- to therapy. Factors such as
13 disease severity, dosage, and duration of CoQ10
14 therapy may influence the efficacy of treatment.
15 And it is extremely difficult to predict how
16 responders will respond.

17 It has been used in compounding since at
18 least 1993. As I went through our files in looking
19 for our earliest request for a. for a formulation
20 of a customized CoQ10 dosage form, I came across a
21 1993 request for a pediatric patient who had
22 difficulty swallowing liquid formulations and who

1 couldn't swallow oral capsules or tablets. So they
2 wanted it into a chewable dosage form, so that was
3 the earliest record that I have been able to find
4 in our records. And we also do see that treatment
5 guidelines for the population of mitochondrial
6 patients consistently recommends CoQ10 therapy for
7 patients with mitochondrial diseases.

8 So once again, I thank the FDA for their
9 review and for their recommendation in favor of
10 CoQ10 for the 503A bulks list, and I thank all of
11 you for the opportunity to speak. And at this
12 time, I'm done with my presentations. We're open
13 for questions.

14 **Clarifying Questions from the Committee**

15 DR. VAIDA: All right. Thank you, Dr. Day.

16 There's opportunity now for the committee to
17 ask any clarifying questions of Dr. Day. Dr. Wall?

18 DR. WALL: Thank you; a question about one
19 of the studies. It was the studies in the
20 mitochondrial disorders, the less than 6 months,
21 and you had the Glover and the Chen.

22 DR. DAY: Yes, ma'am?

1 DR. WALL: And at the conclusion of the
2 Glover, it said very high doses of coenzyme Q10
3 would be unlikely to show additional benefit and in
4 fact may be deleterious when taken for prolonged
5 periods. Do you know what they found; what kind of
6 side effect profile they found in those products?

7 DR. DAY: I don't recall off the top of my
8 head what the specific findings were on the side
9 effect profile. They did not have patient dropouts
10 due to the adverse events. And it was relatively
11 short therapy, so in terms of how they came to that
12 conclusion, I don't recall. It's been a little
13 while since I've read that primary, the citation.

14 We do have a practitioner who has specific
15 experience in treating these patient populations,
16 short term and long term, so perhaps he'll be able
17 to expand a little bit about his findings.

18 DR. VAIDA: Dr. Sun?

19 DR. SUN: Thank you for your presentation.
20 I just have a clarifying question. You had a lot
21 of primary literature. Are all these oral dosage
22 forms?

1 DR. DAY: Yes. All of this is oral dosage
2 forms, the CoQ10, and specifically ubiquinone.

3 DR. VAIDA: Before we go to Dr. Ghany,
4 Dr. Johnson, you had something.

5 DR. JOHNSON: I just wanted to address
6 Dr. Wall's question. The Glover article didn't
7 describe adverse events, but at doses of 600
8 milligrams twice daily for 60 days, CoQ10 treatment
9 was found to be positively associated with urinary
10 levels of 8-hydroxy-2-deoxyguanosine, which the
11 authors interpret as a marker of oxidative stress.

12 Their comments were that long-term high dose
13 with high dosing with CoQ10 for prolonged periods
14 may be deleterious. And that may be related to the
15 mitochondrial disorder itself, so the severity of
16 the mitochondrial disorder may impact the
17 relationship or the therapeutics of CoQ10 and its
18 ultimate safety. But again, because of the low
19 number of patients, and these are anecdotal
20 reports, but that was a suggestion.

21 DR. VAIDA: Dr. Ghany?

22 DR. GHANY: Yes. I had a couple of

1 questions. First, thanks for the exhaustive
2 literature review. I noticed in your presentation
3 that most of the studies were uncontrolled. Did
4 you come across any placebo-controlled studies, and
5 can you tell us whether there were any safety
6 signals identified from such studies?

7 Then the second question is, can you give us
8 a sense of how widely used this compound is in the
9 general population? Your presentation was focused
10 mostly on individuals with mitochondrial disease,
11 but what about its use for other indications?

12 DR. DAY: Sure. So let me address the last
13 question first because that's something that we
14 consider when we're making the nominations to begin
15 with. So as we nominate these substances, we
16 understand that CoQ10 and a number of other
17 ingredients that might be coming before the
18 committee might be utilized in formats for a
19 variety of conditions.

20 So our question that we ask ourselves is who
21 are the patients who really require this to be
22 compounded? Why is a manufacturer product, why is

1 a dietary supplement version of this product not
2 appropriate for a particular patient or patient
3 population?

4 So it is for these patients with
5 mitochondrial disorders that we're really
6 compounding it for. There may be other small-scale
7 requests where a patient is on a statin and there's
8 a drug nutrient depletion, and somebody wants to
9 reduce pill burden. So they say, well, can we can
10 combine things or can we do something for them or
11 customize a dose? Those are few and far between.
12 The vast majority from our records and our research
13 of the requests for compounding CoQ10 are for
14 patients with mitochondrial disorders.

15 So that's why this is the focus of our
16 nomination. That's really what we're compounding
17 it for. In my personal experience, I've never
18 gotten a request to compound it for any other
19 patient population.

20 Could you repeat your question about
21 placebo-controlled studies? Right. The Glover
22 trial and the Chen trial were both double-blind

1 placebo crossover design studies. As Dr. Johnson
2 stated during the FDA's presentation, the studies
3 are not typically designed to identify adverse
4 reactions or designed to identify safety signals.

5 They're looking at clinical outcomes, and
6 they may mention, and they any may identify within
7 that what kind of safety signals there are. But if
8 you go back into some of these charts, the outcomes
9 and the primary measurements for these trials,
10 primary, secondary, or even tertiary, are typically
11 not related to the safety signals. They're looking
12 at different biomarkers, or different physical
13 functioning mechanisms, or parameters for these
14 patients.

15 DR. VAIDA: Dr. Chelimsky?

16 DR. CHELIMSKY: That was a great
17 presentation of CoQ10 for mitochondrial disorders.
18 I was just curious, following up with Dr. Ghany's
19 question, why did you restrict yourself -- I know
20 that CoQ10's been published as effective in cyclic
21 vomiting syndrome, for example, which is thought to
22 have a mitochondrial origin. And also, you

1 mentioned that CoQ10 sometimes utilized, just now,
2 to prevent statin-induced muscle symptoms.

3 I'm just curious. To me, at least I see the
4 CoQ10 utilized in very large quantities in these
5 kinds of disorders or other functional autonomic
6 disorders. I'm just curious. Did you come across
7 any literature on this, and can you comment on what
8 that literature says? Or if not, if you didn't
9 focus on it, why not?

10 DR. DAY: Sure. So CoQ10 has a broad
11 spectrum of potential benefits for a number of
12 different patient populations. I myself take CoQ10
13 supplements, 100 milligrams a day, nowhere near the
14 doses that these patients are. And I can take
15 manufactured, over-the-counter supplements.

16 The patient populations who really require
17 compounded dosage forms of CoQ10 tend to be the
18 patients with a variety of forms of mitochondrial
19 disorders. That's where we focus our nomination
20 because that's where we see the requests coming in
21 specifically for compounded versions of CoQ10.

22 There are a lot of other patients who may

1 inquire about it, but once we can point them and
2 help them identify other sources for it so it
3 doesn't have to be customized -- there's an expense
4 with having something tailor made for you, whether
5 it's clothing or medicine. So once we help them
6 identify that there are alternative options for
7 them, then they tend to go for one of those. But
8 it's the patients with mitochondrial disorders who
9 don't have another choice. There is no other
10 option.

11 DR. CHELIMSKY: So when you compound it,
12 what is the difference between what they're
13 receiving versus if I went to Costco and got the
14 300-milligram pill? What's the difference?

15 DR. DAY: So the primary difference is the
16 dosage form and the dosage strength. A lot of
17 these might be put into a liquid form, as you saw
18 from the patient story where they have a G-tube or
19 others swallowing difficulties, acid reflux, things
20 like that. They may have malabsorption issues, so
21 they have different routes of administration that
22 come into play, as well as the dosage form.

1 I talked about that 1993 where they needed
2 something that was a chewable. That's not
3 available, for the most part, in manufactured
4 dosage forms. We may have other patients who need
5 it as liquids that are more or less concentrated.

6 Oftentimes -- and our open public hearing
7 speaker may be able to speak more about this -- we
8 don't treat patients with just CoQ10. We may
9 initiate them on CoQ10, but mitochondrial patients,
10 they're often treated with a combination of
11 therapies as you saw from some of these treatment
12 guidelines towards the end of my presentation.

13 So you have combination therapy that's often
14 referred to as a mito cocktail, so the compound
15 brings all of this together because at a high dose
16 in combination with other medications, taking a
17 variety of supplements, whether it's 600 milligrams
18 a day, 300 milligrams a day of CoQ10, plus your
19 various forms of B vitamins, and creatine, or any
20 other supplement that might be part of your
21 cocktail that is patient specific, it becomes very
22 difficult to manage.

1 DR. CHELIMSKY: Thank you.

2 DR. VAIDA: Dr. Carome?

3 DR. CAROME: Mike Carome. In a
4 clarification letter sent to the FDA about the
5 nomination, PCCA asserted that coenzyme Q has a
6 monograph with USP and the National Formulary. I'm
7 assuming that's incorrect or we wouldn't be
8 entertaining this nomination. But can someone
9 clarify, the FDA or the nominator, whether that's
10 true?

11 DR. SUN: I can comment on that. USP does
12 have a dietary supplement monograph for that
13 substance.

14 DR. BORMEL: So it would not be an
15 applicable monograph.

16 DR. DAY: So to clarify, the statutory
17 requirement is that there is an applicable
18 monograph. It is FDA's interpretation the dietary
19 supplement monographs are not applicable, but that
20 is their interpretation. That is not written into
21 the statute.

22 DR. VAIDA: Last question, Dr. Bogner?

1 DR. BOGNER: Robin Bogner. Thank you. You
2 had mentioned other dosage forms. Are we talking
3 about non-aqueous dosage forms, emulsions and maybe
4 self-emulsifying systems? And if those are also
5 prepared, is there a difference in dose? Does it
6 affect the bioavailability of the coenzyme Q?

7 DR. DAY: No. Our compounded formulations
8 would be considered crystalline formats, whether
9 it's putting it into a liquid form or not making
10 nanoemulsions, microemulsions. We're not dealing
11 with the technology that's required to produce
12 those on a consistent level. We're talking about
13 utilization of coenzyme Q10 powders with specific
14 formulations that we test and validate for the
15 formulation process as well as the stability of
16 those formulations.

17 DR BOGNER: Thank you.

18 DR. VAIDA: We have one final question from
19 Dr. Venitz on the phone.

20 DR. VENITZ: Yes. Jurgen Venitz.

21 Dr. Day, I enjoyed your presentation as
22 always. A question about the formulation. I think

1 you answered part of it, but the studies that you
2 tabulated carefully -- and the study indicated the
3 dose that was used. What formulations did they
4 use? Were they standardized or is it reasonable to
5 assume that the formulations could explain some of
6 the different results that they found in those
7 various studies? Thank you.

8 DR. DAY: Sure. It's an excellent question.
9 Many of the studies utilized weight-based dosing,
10 so each patient is going to have a custom
11 calculated dose. These are all oral dosage forms.
12 There are some studies that utilized a standardized
13 dose per day, such as the 150 milligrams or 100
14 milligrams per day. So there is a little bit of
15 variance in how these researchers approach the
16 dosing protocols for their studies.

17 If you look to the guidelines and expert
18 opinion sections, the 2017 recommendation was
19 weight based. The 2016 recommendation was -- let
20 me pull that slide up. I believe that one was
21 standardized. So there's a little bit of a
22 variance in how some of these opinion papers are

1 published and what kind of dosing they're
2 recommending.

3 DR. VENITZ: But did they use the same
4 formulation? Because it looks like the formulation
5 very much determines bioavailability and
6 [indiscernible].

7 DR. DAY: None of these studies specifically
8 identified using nanoemulsion or microemulsion
9 formulations, so we are operating under the
10 assumption -- unless FDA or Dr. Johnson has other
11 information, we're operating under the assumption
12 that these were all utilizing the crystalline
13 formats of coenzyme Q10.

14 DR. VENITZ: Thank you.

15 DR. VAIDA: Dr. Johnson?

16 DR. JOHNSON: Sue Johnson. I have a backup
17 slide to show one study that compares various
18 formulations of ubiquinone and ubiquinol if you'd
19 like to see it.

20 There isn't a lot of pharmacokinetic
21 information from humans about CoQ10 as it's an
22 endogenous substance. Most of the pharmacokinetic

1 information that's out there, and there isn't very
2 much, comes from manufacturers who are trying to
3 establish whether or not their formulations have
4 improved bioavailability.

5 In this slide, it was one company who makes
6 formulations A, B, and C, and D was from a
7 different company. It was an off-the-shelf
8 product. In part, this addresses ubiquinol versus
9 ubiquinone administration interests. The MMS
10 guidelines actually recommend that ubiquinol be
11 used as opposed to ubiquinone because ubiquinol may
12 have slightly higher bioavailability. As we said
13 before, ubiquinol was presented to the PCAC in May
14 2017, and its proposed use was as an adjunct in
15 glycemic control, but again for oral use.

16 Single doses of 180 milligrams using these
17 various formulations were administered. And what
18 was measured was total coenzyme Q in the plasma, so
19 that's ubiquinol plus ubiquinone. And if you look
20 at formulation A and C, those are ubiquinone, and
21 they were solubilized using emulsifying agents or
22 an oil-based vehicle. A was a liquid; B was a soft

1 gel capsule.

2 Treatment B was ubiquinol in soft gel
3 capsules and treatment D was a solubilized powder,
4 so there wasn't -- oh, sorry, a non-solubilized
5 powder. So there wasn't an effort to increase
6 solubilization of the powder contained in a hard
7 capsule. Because there were only 9 subjects, the
8 statistical power was not very great. But you can
9 see for the concentration in plasma at 12 hours,
10 formulation A and formulation B exceeded the levels
11 of formulation C at p less than .05.

12 I think Dr. Ganley's observed that A, B, and
13 C look quite a bit alike, but if you notice the
14 numerical trend, B, ubiquinol, is slightly greater
15 in each of the realms. In A, B, and C, ubiquinones
16 that are solubilized and ubiquinol exceed the
17 levels of ubiquinone in a non-solubilized powder.
18 So that tells us a little bit about ubiquinol
19 versus ubiquinone and the effect of formulation.

20 **Open Public Hearing**

21 DR. VAIDA: Thank you. We'll now move to
22 the open public hearing portion, session 2. And I

1 think we have one speaker.

2 DR. KORSON: Hi. My name is Dr. Mark
3 Korson. I'm a metabolic or biochemical geneticist
4 from VMP Genetics and spent the last 25 years
5 working in the area of metabolic and mitochondrial
6 disease.

7 Identifying the patient for whom coenzyme
8 Q10 is appropriate is still a challenge given the
9 limits of what we know in the field of
10 mitochondrial medicine. In some cases such as
11 coenzyme Q10 deficiency, the biochemistry defines
12 the problem, the treatment is clear, and the
13 patients respond as one might predict. But for the
14 greater than 200 different mitochondrial disorders,
15 mitochondrial disease is not a single entity. The
16 benefit of CoQ10 is not always clear.

17 True. All these disorders result in some
18 net deficiency of energy production, and yes,
19 coenzyme Q10 helps to transfer high-energy
20 electrons through the electron transport chain, the
21 final common pathway of energy production. It also
22 functions as an antioxidant, which is important

1 given the role of oxidative stress in this patient
2 population, among other functions.

3 Are all these patients suitable candidates
4 for CoQ10 then? No. But given the absence of
5 therapies for this cluster of diseases that address
6 the root problem, given the dramatic impact of
7 these disorders on functioning quality of life and
8 the low incidence and transient nature of the side
9 effects associated with supplementation, this is a
10 consideration.

11 While the consensus reports by the
12 Mitochondrial Medicine Society and/or its members
13 identify the use of CoQ10 in the majority of
14 patients with mitochondrial disease prescribed by
15 experts in this area of medicine, I'm speaking here
16 to a particular symptom set to focus on.

17 Supporting a trial of CoQ10 in patients with
18 disease, especially when it's associated with
19 fatigue or weakness that impacts functioning like
20 self care, participating in home life, learning at
21 school, or working and staying productive, this
22 doesn't mean that it doesn't also have a positive

1 impact in other aspects of mitochondrial disease,
2 but it's harder to appreciate the benefit given the
3 slow course of the disease.

4 In my practice, we have treated over 250
5 patients, patients with documented mitochondrial
6 disease or who have significant evidence to support
7 such a diagnosis, recognizing that genetic
8 confirmation of a diagnosis only occurs about 60
9 percent of the time. These patients may or may not
10 have a demonstrable deficiency of leukocyte
11 coenzyme Q10.

12 The dosing was obtained by consensus reports
13 of mitochondrial disease provider practices. These
14 patients are provided a trial of CoQ10 for at least
15 3 months given the time it takes to raise blood
16 levels and the time needed to assess improvement or
17 side effects. Since a cocktail usually involves
18 more than one supplement, it's not always practical
19 to provide a separate period of introduction for
20 more than a few months.

21 Assessing the benefits of CoQ10 -- since
22 ongoing therapy is a commitment, a disease that is

1 already incredibly burdensome medically,
2 psychologically, and financially -- is important.
3 We do look at biochemical levels of CoQ10 to assess
4 compliance with the supplement but don't generally
5 see a correlation between levels and benefits. And
6 in general, only one supplement is started at a
7 time to avoid confusion.

8 Relying on patient accounts or even parental
9 observations, while important, is not sufficient.
10 As a matter of protocol, I recommend to parents
11 that they not inform teachers, physical or
12 occupational therapists, activity leaders, or
13 others who observe the patient on a regular basis
14 that the patient is beginning the supplement.

15 People like to see a child do well, and if
16 informed beforehand, they might be biased in
17 monitoring a child for improvement. If kept
18 informed, and if they observe a sustained change in
19 activity, attention, or stamina, that could be
20 significant. And based on responses, the majority
21 of patients and families through observers noted
22 improvement of functioning while on the supplement.

1 Why do I place importance on the
2 observation, individuals who are not medically
3 trained? Because these observations are generally
4 more balanced than the assessment done by a
5 physician who sees a patient for only 20 or 30
6 minutes in his/her office. That time limited
7 observation may not at all be representative of a
8 patient's normal activity level, especially when it
9 occurs in an intimidating medical office.

10 Around the area of weakness and fatigue, I
11 look for improvement in activity, stamina, and
12 attention, prospectively once the supplement is
13 started. Sometimes the benefit is not observed
14 after starting the supplement and only when it's
15 taken away. The improvement is more apparent in
16 retrospect.

17 I have prescribed CoQ10 in the morning and
18 midday because too close to bedtime or too large a
19 daily dose can result in difficulty falling asleep
20 or staying asleep. When there's GI upset, is it
21 due to the supplement or is it due to the presence
22 of pills in a stomach that doesn't empty properly?

1 Gastroparesis is a common symptom in
2 patients with mitochondrial disease. Following the
3 recommendations of Tarnopolsky of McMaster
4 University, we also utilize CoQ10 in conjunction
5 with other mitochondria relevant supplements in an
6 attempt to impact different stages of energy
7 production, looking for a synergistic effect.

8 In summary, there are few therapeutic
9 options for this group of disorders. It is well
10 tolerated, and here's an opportunity to impact a
11 particularly troublesome day-to-day feature of the
12 disease. Thank you.

13 **Committee Discussion and Vote**

14 DR. VAIDA: Thank you. That now concludes
15 our open public portion of the meeting, and we'll
16 no longer take comments from the audience. We'll
17 now have committee discussion and a vote. The vote
18 will be FDA is proposing that coenzyme Q10 for oral
19 administration be included on the 503A bulks list.
20 Should coenzyme Q10 for oral administration be
21 placed on the list?

22 If you vote no, you are recommending FDA not

1 place the bulk drug substance on the 503A bulks
2 list. It is now open for discussion before a vote.
3 Any discussion or on the phone?:

4 (No response.)

5 DR. VAIDA: Hearing none, remember, you have
6 the three options on your device: yes, no, or
7 abstain. Please press firmly on your microphone
8 that corresponds to your vote. You have
9 approximately 15 seconds to vote. After you made
10 your selection, the light will continue to flash.
11 If you are unsure of your vote, please press the
12 corresponding button again.

13 (Voting.)

14 DR. FAJICULAY: For the record, the results
15 are 17, yes; zero, no; zero abstain.

16 DR. VAIDA: All right. Thank you.

17 We'll now go around the room and please
18 state your name, how you voted, and any comment. I
19 can start on my left. Dr. Hoag?

20 DR. HOAG: Steve Hoag, and I voted yes for
21 the reasons given by the FDA and the nominators. I
22 thought that the data and information they

1 presented made sense to put this on the list.

2 MR. HUMPHREY: William Humphrey. I voted
3 yes. I think the presentation showed that it met
4 the evaluation criteria.

5 DR. PATEL: Kuldip Patel. I voted yes.
6 Clearly, it has a place in therapy for treating
7 mitochondrial disorders.

8 DR. VAIDA: Now, our two committee members
9 on the phone, beginning with Dr. Gulur.

10 DR. GULUR: This is Dr. Gulur. I voted yes
11 for the reasons already stated by other members.

12 DR. VAIDA: Dr. Venitz?

13 (No response.)

14 DR. VAIDA: I'll start again. Allen Vaida.
15 I voted yes for the reasons stated.

16 DR. BOGNER: Robin Bogner. I voted yes. It
17 was a compelling case. I have some comments,
18 though.

19 In general, in the recommendations section
20 of the evaluation by the FDA, the physical and
21 chemical characterization portion is not very
22 detailed. I see words like "stable" versus "not

1 stable" and it's never one or the other, with no
2 delineation as to whether it's physical or
3 chemical. For those of us that that's our field,
4 more detail would be helpful in determining whether
5 the substance is well characterized.

6 Also, particularly for these poorly soluble
7 substances, when the oral doses are given, when
8 showing bioavailability or efficacy, it would be
9 helpful when something is known about the
10 formulation, that that also be included so that we
11 could understand it better.

12 DR. CAROME: Mike Carome. I voted yes for
13 many of the reasons already stated.

14 DR. WALL: Donna Wall. I voted yes for the
15 reasons stated.

16 DR. JUNGMAN: Elizabeth Jungman. I also
17 voted yes. It seems to meet a need for a patient
18 population that doesn't have a lot of options
19 without significant safety concerns.

20 DR. DESAI: Seemal Desai. I also voted yes
21 for the reasons already stated.

22 DR. SUN: Jeanne Sun. I voted yes because

1 it's well characterized, and the USP have a dietary
2 supplement monograph for it, and I think there is
3 very compelling efficacy and safety data around it.

4 DR. IKONOMIDOU: Chris Ikonomidou. I voted
5 yes for all the reasons stated.

6 DR. KHURANA: Sandeep Khurana. I voted.
7 yes.

8 DR. CHELIMSKY: Tom Chelimsky. I voted yes.
9 I'll just add a comment, my own personal
10 experience. I see a lot of mitochondrial
11 disorders, and I have seen superb responses to
12 CoQ10. They're few and far between, but they
13 really are impressive.

14 DR. GHANY: This is Marc Ghany. I voted
15 yes. I think the risk-benefit analysis favors
16 continued use of this compound in patients with
17 mitochondrial disorders.

18 **Adjournment**

19 DR. VAIDA: Thank you. We'll now take a
20 break for lunch. And if we could all meet back
21 here by 1:15.

22 DR. VENITZ: Can I add a comment? I got cut

1 off.

2 DR. VAIDA: Please, Dr. Venitz, your vote?

3 DR. VENITZ: So the -- [inaudible - audio
4 gap].

5 DR. VAIDA: Could you give your vote and any
6 comment?

7 DR. VENITZ: Yes. A comment got cut off
8 after my vote. I did vote yes. Just like
9 Dr. Bogner, I'm concerned about solubility and
10 bioavailability of a poorly soluble drug like
11 CoQ10. There is evidence to show that the
12 formulation has a major impact on its systemic
13 levels, systemic exposures.

14 What comforted me in terms of my decision,
15 though, was the fact that in practice, treating
16 patients seemed to measure levels of CoQ10 to
17 assess the oil absorption. Thank you.

18 DR. VAIDA: Thank you.

19 Remember, we'll convene back here at 1:15.

20 (Whereupon, at 12:40 p.m., the morning
21 session was adjourned.)

22