

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH

ANTIMICROBIAL DRUGS ADVISORY COMMITTEE (AMDAC)

Wednesday, August 8, 2018

8:30 a.m. to 1:31 p.m.

FDA White Oak Campus  
Building 31, the Great Room  
10903 New Hampshire Avenue  
Silver Spring, Maryland

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Lauren D. Tesh, PharmD, BCPS**

4 Division of Advisory Committee and Consultant

5 Management

6 Office of Executive Programs, CDER, FDA

7

8 **ANTIMICROBIAL DRUGS ADVISORY COMMITTEE MEMBERS**

9 **(Voting)**

10 **Lindsey R. Baden, MD**

11 *(Chairperson)*

12 Director of Clinical Research

13 Division of Infectious Diseases

14 Brigham and Women's Hospital

15 Director, Infectious Disease Service

16 Dana-Farber Cancer Institute

17 Associate Professor, Harvard Medical School

18 Boston, Massachusetts

19

20

21

22

1 **Demetre C. Daskalakis, MD, MPH**

2 Acting Deputy Commissioner

3 Division of Disease Control

4 New York City Department of Health and

5 Mental Hygiene

6 Long Island City, New York

7

8 **Michael Green, MD, MPH**

9 Professor of Pediatrics, Surgery and Clinical &

10 Translational Science

11 University of Pittsburgh School of Medicine

12 Division of Infectious Diseases

13 Director, Antimicrobial Stewardship & Infection

14 Prevention

15 Co-Director, Transplant Infectious Diseases

16 Children's Hospital of Pittsburgh

17 Pittsburgh, Pennsylvania

18

19

20

21

22

1     **Barbara M. Gripshover, MD**

2     Associate Professor of Medicine  
3     University Hospitals Cleveland Medical Center  
4     Case Western Reserve University  
5     Division of Infectious Diseases and HIV Medicine  
6     Cleveland, Ohio

7

8     **Jonathan R. Honegger, MD**

9     Assistant Professor of Pediatrics  
10    The Ohio State University College of Medicine  
11    Division of Infectious Diseases and Center for  
12    Vaccines and Immunity  
13    Nationwide Children's Hospital  
14    Columbus, Ohio

15

16    **Ighovwerha Ofotokun, MD, MSc**

17    Professor of Medicine  
18    Division of Infectious Diseases  
19    Department of Medicine  
20    Emory University School of Medicine  
21    Atlanta, Georgia

22

1     **Vincent Lo Re, MD, MSCE**

2     Associate Professor of Medicine and Epidemiology

3     Division of Infectious Diseases

4     Department of Medicine

5     Center for Clinical Epidemiology and Biostatistics

6     Perelman School of Medicine

7     University of Pennsylvania

8     Philadelphia, Pennsylvania

9

10    **Joanna M. Schaenman, MD, PhD**

11    Associate Clinical Professor of Medicine

12    Division of Infectious Diseases

13    David Geffen School of Medicine at UCLA

14    Los Angeles, California

15

16    **Peter Joseph Weina, PhD, MD**

17    Colonel, Medical Corps, USA

18    Chief, Department of Research Programs

19    Walter Reed National Military Medical Center

20    Division of Education, Training and Research

21    Bethesda, Maryland

22

1       **TEMPORARY MEMBERS (Voting)**

2       **Erica Brittain, PhD**

3       Mathematical Statistician and Deputy Branch Chief

4       Biostatistics Research Branch

5       Division of Clinical Research

6       National Institute of Allergy and

7       Infectious Diseases (NIAID)

8       National Institutes of Health (NIH)

9       Bethesda, Maryland

10

11       **William J. Calhoun, MD, FACP**

12       Professor and Vice Chair for Research

13       Division of Pulmonary Critical Care & Sleep

14       Medicine

15       Department of Internal Medicine

16       University of Texas Medical Branch at Galveston

17       Galveston, Texas

18

19       **Emma D'Agostino, BS**

20       *(Acting Consumer Representative)*

21       Atlanta, Georgia

22

1     **Scott E. Evans, MD, FCCP**

2     Associate Professor

3     Department of Pulmonary Medicine

4     University of Texas MD Anderson Cancer Center

5     Houston, Texas

6

7     **James Floyd, MD, MS**

8     Assistant Professor of Medicine and Epidemiology

9     University of Washington

10    Seattle, Washington

11

12    **Sean Hennessy, PharmD, PhD**

13    Professor of Epidemiology and of Systems

14    Pharmacology & Translational Therapeutics

15    Director, Center for Pharmacoepidemiology

16    Research and Training

17    University of Pennsylvania Perelman School of

18    Medicine

19    Philadelphia, Pennsylvania

20

21

22

1     **Tina L. Nelkin**

2     *(Patient Representative)*

3     Mineola, New York

4

5     **Michael Proschan, PhD**

6     Mathematical Statistician

7     Biostatistics Research Branch

8     Division of Clinical Research

9     NIAID, NIH

10    Bethesda, Maryland

11

12    **Erik R. Swenson, MD**

13    Professor of Medicine and Physiology

14    Division of Pulmonary, Critical Care, & Sleep

15    Medicine

16    University of Washington

17    Attending Physician

18    Veteran Administration Puget Sound Healthcare

19    System

20    Seattle, Washington

21

22

1     **ACTING INDUSTRY REPRESENTATIVE TO THE ANTIMICROBIAL**  
2     **DRUGS ADVISORY COMMITTEE (Non-Voting)**

3     **Stuart Green, MD**

4     *(Acting Industry Representative)*

5     Vice President

6     Respiratory and Immunology

7     Merck Research Laboratories

8     Rahway, New Jersey

9  
10    **FDA PARTICIPANTS (Non-Voting)**

11    **John Farley, MD, MPH**

12    Deputy Director

13    Office of Antimicrobial Products (OAP)

14    Office of New Drugs (OND), CDER, FDA

15  
16    **Sumathi Nambiar, MD, MPH**

17    Director

18    Division of Anti-Infective Products (DAIP)

19    OAP, OND, CDER, FDA

20

21

22

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Joseph Toerner, MD, MPH**

Cross Disciplinary Team Leader

Deputy Director for Safety

DAIP, OAP, OND, CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Lindsey Baden, MD	13
5	Conflict of Interest Statement	
6	Lauren Tesh, PharmD, BCPS	18
7	FDA Opening Remarks	
8	Sumathi Nambiar, MD, MPH	22
9	<b>Applicant Presentations - Paratek</b>	
10	Introduction	
11	Evan Loh, MD	29
12	Unmet Need	
13	Keith Kaye, MD, MPH	34
14	Efficacy	
15	Anita Das, PhD	39
16	Safety	
17	Evan Loh, MD	52
18	Benefit/Risk	
19	Eric Mortensen, MD, MSc	64
20	Clarifying Questions	69
21		
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	<b>FDA Presentation</b>	
4	Safety and Efficacy Analyses	
5	Joseph Toerner, MD, MPH	87
6	Clarifying Questions	114
7	Open Public Hearing	135
8	Clarifying Questions (continued)	169
9	Questions to the Committee and Discussion	204
10	Adjournment	260
11		
12		
13		
14		
15		
16		
17		
18		
19		
20		
21		
22		

1                   P R O C E E D I N G S

2                   (8:30 a.m.)

3                   **Call to Order**

4                   **Introduction of Committee**

5                   DR. BADEN: It's 8:30. We shall get  
6 started. Even though the agenda is not as full as  
7 prior, I think if we can stay on schedule, we'll  
8 all benefit, and I don't think anyone will be  
9 upset.

10                  Good morning. I'd first like to remind  
11 everyone to please silence your cell phones and any  
12 other devices that you have not already done. I  
13 would also like to identify the FDA press contact,  
14 Theresa Eisenman. If you're present, please stand.  
15 She's always available even if we don't see her.  
16 My name is Dr. Lindsey Baden. I'm the chairperson  
17 of the Antimicrobial Drugs Advisory Committee and  
18 I'll be chairing this meeting. I'll now call this  
19 meeting to order. We'll start by going around the  
20 table and introduce ourselves. We'll start with  
21 the FDA on my far left.

22                  DR. FARLEY: Good morning. John Farley,

1 deputy director of the Office of Antimicrobial  
2 Products.

3 DR. NAMBIAR: Good morning. Sumathi  
4 Nambiar, director of the Division of Anti-Infective  
5 Products, CDER, FDA.

6 DR. TOERNER: Joe Toerner, deputy director  
7 for safety, Division of Anti-Infective Products,  
8 CDER, FDA.

9 DR. HENNESSY: Good morning. I'm Sean  
10 Hennessy talking into a loud microphone. I do  
11 pharmacoepidemiology research at the University of  
12 Pennsylvania.

13 DR. SWENSON: Erik Swenson in the Department  
14 of Medicine and the Division of Pulmonary Diseases  
15 at the University of Washington.

16 DR. EVANS: Scott Evans, pulmonary medicine  
17 at the University of Texas, MD Anderson Cancer  
18 Center.

19 DR. BRITTAIN: Hi. I'm Erica Brittain. I'm  
20 a statistician at the National Institute of Allergy  
21 and Infectious Diseases, NIH.

22 DR. SCHAEENMAN: Joanna Schaenman, infectious

1 diseases, David Geffen School of Medicine at UCLA.

2 DR. DASKALAKIS: Demetre Daskalakis,  
3 infectious diseases, deputy commissioner for  
4 disease control at the New York City Department of  
5 Health.

6 DR. HONEGGER: Jonathan Honegger, pediatric  
7 infectious disease, Nationwide Children's Hospital,  
8 Ohio State University.

9 DR. TESH: Lauren Tesh, designated federal  
10 officer.

11 DR. BADEN: Lindsey Baden, infectious  
12 diseases, Dana-Farber Cancer Institute, Brigham and  
13 Women's Hospital, Harvard Medical School in Boston.

14 DR. WEINA: Peter Weina, infectious  
15 diseases, Walter Reed National Military Medical  
16 Center.

17 DR. M. GREEN: Michael Green, pediatric  
18 infectious diseases, Children's Hospital,  
19 Pittsburgh and the University of Pittsburgh School  
20 of Medicine.

21 DR. GRIPSHOVER: Barbara Gripshover, adult  
22 infectious diseases from University Hospitals,

1 Cleveland Medical Center at Case Western Reserve  
2 University.

3 DR. LO RE: Vincent Lo Re, Division of  
4 Infectious Diseases, Department of Biostatistics,  
5 epidemiology informatics at the University of  
6 Pennsylvania.

7 DR. OFOTOKUN: Igho Ofotokun, infectious  
8 diseases, Emory University, Atlanta.

9 MS. D'AGOSTINO: Emma D'Agostino. I'm the  
10 consumer rep, and I'm in the Department of  
11 Biochemistry at Emory.

12 MS. NELKIN: Tina Nelkin, patient  
13 representative.

14 DR. CALHOUN: Good morning. I'm Bill  
15 Calhoun, professor and vice chair of medicine at  
16 the University of Texas Medical Branch in  
17 Galveston, Texas, pulmonologist and allergist by  
18 training.

19 DR. FLOYD: James Floyd, general internal  
20 medicine and epidemiology at the University of  
21 Washington.

22 DR. PROSCHAN: I'm Michael Proschan. I'm a

1       statistician at the National Institute of Allergy  
2       and Infectious Diseases.

3               DR. S. GREEN: Good morning. I'm Stuart  
4       Green. I'm the acting industry representative at  
5       today's meeting.

6               DR. BADEN: Thank you. Dr. Proschan, your  
7       mic is still on -- or, sorry, Dr. Calhoun. My  
8       depth perception needs some help.

9               For topics such as those being discussed at  
10       today's meeting, there are often a variety of  
11       opinions, some of which are quite strongly held.  
12       Our goal is that today's meeting will be a fair and  
13       open forum for discussion of these issues, and that  
14       individuals can express their views without  
15       interruption. Thus, as a gentle reminder,  
16       individuals will be allowed to speak into the  
17       record only if recognized by the chairperson.

18               We look forward to a productive meeting.  
19       In the spirit of the Federal Advisory Committee Act  
20       and the Government in the Sunshine Act, we ask that  
21       the advisory committee members take care that their  
22       conversations about the topic at hand take place in

1 the open forum of the meeting.

2 We are aware that members of the media are  
3 anxious to speak with the FDA about these  
4 proceedings. However, FDA will refrain from  
5 discussing the details of this meeting with the  
6 media until its conclusion. Also, the committee is  
7 reminded to please refrain from discussing the  
8 meeting topic during breaks or lunch. Thank you.

9 I'll pass it to Dr. Lauren Tesh, who will  
10 read the conflict of interest statement.

11 **Conflict of Interest Statement**

12 DR. TESH: The Food and Drug Administration  
13 is convening today's meeting of the Antimicrobial  
14 Drugs Advisory Committee under the authority of the  
15 Federal Advisory Committee Act of 1972. With the  
16 exception of the industry representative, all  
17 members and temporary voting members of the  
18 committee are special government employees or  
19 regular federal employees from other agencies and  
20 are subject to federal conflict of interest laws  
21 and regulations.

22 The following information on the status of

1 this committee's compliance with federal ethics and  
2 conflict of interest laws, covered by but not  
3 limited to those found at 18 U.S.C., Section 208,  
4 is being provided to participants in today's  
5 meeting and to the public.

6 FDA has determined that members and  
7 temporary voting members of this committee are in  
8 compliance with federal ethics and conflict of  
9 interest laws. Under 18 USC, Section 208, Congress  
10 has authorized FDA to grant waivers to special  
11 government employees and regular federal employees  
12 who have potential financial conflicts when it is  
13 determined that the agency's need for a special  
14 government employee's services outweighs his or her  
15 potential financial conflict of interest or when  
16 the interest of a regular federal employee is not  
17 so substantial as to be deemed likely to affect the  
18 integrity of the services which the government may  
19 expect from the employee.

20 Related to the discussion of today's  
21 meeting, members and temporary voting members of  
22 this committee have been screened for potential

1 financial conflicts of interest of their own as  
2 well as those imputed to them, including those of  
3 their spouses or minor children and, for purposes  
4 of 18 USC Section 208, their employers.

5 These interests may include investments,  
6 consulting, expert witness testimony, contracts,  
7 grants, CRADAs, teaching, speaking, writing,  
8 patents and royalties, and their primary  
9 employment.

10 Today's agenda involves discussion of new  
11 drug application 209816 for omadacycline tablets,  
12 and 209817 for omadacycline injection, sponsored by  
13 Paratek Pharmaceuticals, Inc. for the proposed  
14 indications for the treatment of community-acquired  
15 bacterial pneumonia and acute bacterial skin and  
16 skin structure infections.

17 This is a particular matters meeting during  
18 which specific matters related to Paratek  
19 Pharmaceuticals' new drug applications will be  
20 discussed. Based on the agenda for today's meeting  
21 and all financial interests reported by the  
22 committee members and temporary voting members, no

1 conflict of interest waivers have been issued in  
2 connection with this meeting. To ensure  
3 transparency, we encourage all standing committee  
4 members and temporary members to disclose any  
5 public statements that they have made concerning  
6 the product at issue.

7 With respect to FDA's invited industry  
8 representative, we would like to disclose that  
9 Dr. Stuart Green is participating in this meeting  
10 as a non-voting industry representative acting on  
11 behalf of regulated industry. Dr. Green's role at  
12 this meeting is to represent industry in general  
13 and not any particular company. Dr. Green is  
14 employed by Merck and Co.

15 We would like to remind members and  
16 temporary members that if the discussions involve  
17 any other products or firms not already on the  
18 agenda for which an FDA participant has a personal  
19 or imputed financial interest, the participants  
20 need to exclude themselves from such involvement,  
21 and their exclusion will be noted for the record.

22 FDA encourages all other participants to

1 advise the committee of any financial relationships  
2 they may have with the firm at issue. Thank you.

3 DR. BADEN: We will now proceed with the  
4 FDA's introductory remarks from Dr. Nambiar.

5 **FDA Opening Remarks**

6 DR. NAMBIAR: Thank you, Dr. Baden.

7 Good morning, everybody, and welcome to  
8 today's meeting of the Antimicrobial Drugs Advisory  
9 Committee. We're here to discuss NDA's 209816,  
10 209817, omadacycline injection and tablets.

11 The applicant for these new drug  
12 applications is Paratek Pharmaceuticals. The  
13 product has qualified infectious disease product  
14 designation for three indications: community-  
15 acquired bacterial pneumonia, acute bacterial skin  
16 and skin structure infections, and uncomplicated  
17 urinary tract infection. These NDAs were granted  
18 priority review as the product has QIDB  
19 designation.

20 The two proposed indications are as follows,  
21 CABP caused by the following: gram-positive,  
22 gram-negative, and atypical microorganisms --

1 won't go through the list of organisms -- and  
2 ABSSSI caused by the following gram-positive and  
3 gram-negative microorganisms.

4 The proposed dose includes a loading dose  
5 and a maintenance dose with the option of either  
6 intravenous or overloading and maintenance  
7 intravenous or oral as well. The proposed duration  
8 of therapy is 7 to 14 days.

9 In addition to phase 1 trials, the  
10 development program included two phase 3 trials in  
11 adults with acute bacterial skin and skin structure  
12 infections, study ABSI 1108, and study ABSI 16301.  
13 One phase 3 trial was conducted in adults with  
14 CABP 1200, a legacy phase 2 study and a truncated  
15 phase 3 trial in patients with complicated skin and  
16 skin structure infections, which was the name of  
17 the indication previously, which currently we refer  
18 to as ABSSSI.

19 The studies ABSI 1108 and CABP 1200 allowed  
20 for switch from intravenous to oral omadacycline,  
21 while ABSI 16301 evaluated oral omadacycline only.

22 The phase 3 ABSSSI trials were randomized,

1 double-blind, noninferiority trials where  
2 omadacycline was compared to linezolid. The design  
3 of the trial was generally consistent with the FDA  
4 ABSSSI guidance. After a minimum of 3 days of  
5 blinded IV therapy, there's an option to switch to  
6 oral therapy in one of the studies.

7 The primary analysis population was modified  
8 intent-to-treat and the primary endpoint was early  
9 clinical response, defined as patient being alive  
10 and having a 20 percent or greater reduction in  
11 lesion size 48 to 72 hours after therapy was  
12 initiated. The prespecified noninferiority margin  
13 was 10 percent.

14 In both trials, omadacycline was noninferior  
15 to linezolid for the primary endpoint and the  
16 prespecified NI margin was met. Consistent results  
17 were seen for the key secondary endpoint, which was  
18 clinical response at a post-treatment evaluation 7  
19 to 14 days after treatment was completed.  
20 Consistent results were also seen in subgroups of  
21 interest.

22 The phase 3 CABP trial was a randomized,

1 double-blind, NI trial where omadacycline was  
2 compared to moxifloxacin. The design of the trial  
3 was, in general, consistent with the FDA CABP  
4 guidance. After a minimum of 3 days of blinded IV  
5 therapy, there was an option to switch to oral  
6 therapy. The primary analysis population was the  
7 intent-to-treat population.

8 The primary endpoint was early clinical  
9 response assessed 72 to 120 hours after treatment  
10 was initiated. And this assessment was based on  
11 improvement in symptoms of pneumonia, such as  
12 cough, sputum production, pleuritic chest pain, and  
13 dyspnea. The prespecified NI margin was  
14 10 percent.

15 In this trial, omadacycline was noninferior  
16 to moxifloxacin. The clinical success rates in the  
17 omadacycline, 81 percent compared to 82.7 percent  
18 in moxifloxacin, a treatment difference of 1.6 and  
19 the lower bound of the confidence interval of  
20 minus 7.1.

21 Key secondary endpoint assessed was the  
22 investigator assessment at a post-treatment

1 evaluation 5 to 10 days after completion of  
2 treatment. Clinical success rates were similar  
3 between the two treatment arms.

4 Thirty-day, all-cause mortality was higher  
5 in the omadacycline arm. There were 8 deaths,  
6 2.1 percent compared to the moxifloxacin arm.  
7 There were 3 deaths, 0.8 percent. Subgroup  
8 analyses showed that response rates were  
9 numerically lower in some subgroups of interest,  
10 for example patients over 65 years of age and those  
11 with PORT class IV.

12 In terms of the safety assessment, there  
13 were no significant differences in adverse events  
14 between the treatment groups. With oral  
15 omadacycline, there was a higher incidence of  
16 nausea and vomiting.

17 Infusion site reactions, primarily  
18 extravasation, was reported with IV omadacycline in  
19 one of the skin trials. And as I've noted, there  
20 was an imbalance in the 30-day all-cause mortality  
21 in the CABP trial.

22 So as Dr. Baden mentioned, today's an

1 abbreviated day compared to our regular advisory  
2 committee meeting, so we will have presentations by  
3 the applicant followed by time for clarifying  
4 questions. We have one presentation by the FDA, by  
5 Dr. Toerner, who's the deputy director for safety  
6 in the division and also the cross-discipline team  
7 leader for this application. Dr. Toerner will  
8 cover both the efficacy and safety presentation.

9           There will be time for clarifying questions.  
10 After the open public hearing, we will have  
11 questions for the committee.

12           We have two voting questions for the  
13 committee. We are seeking your input on the  
14 following. Has the applicant provided substantial  
15 evidence of the safety and effectiveness of  
16 omadacycline for the treatment of acute bacterial  
17 skin and skin structure infections?

18           If you voted yes, please provide any  
19 recommendations for labeling, and if you voted no,  
20 please discuss additional studies or analyses that  
21 are needed.

22           The second question is if the applicant has

1 provided substantial evidence of the safety and  
2 effectiveness of omadacycline for the treatment of  
3 community-acquired bacterial pneumonia. If you  
4 voted yes, please provide any recommendations for  
5 labeling, and if you voted no, please discuss  
6 additional studies or analyses that are needed.  
7 Thank you.

8 DR. BADEN: Thank you, Dr. Nambiar.

9 Both the FDA and the public believe in a  
10 transparent process for information gathering and  
11 decision making. To ensure such transparency at  
12 the advisory committee meeting, FDA believes that  
13 it is important to understand the context of an  
14 individual's presentation.

15 For this reason, FDA encourages all  
16 participants, including the applicant's  
17 non-employee presenters, to advise the committee of  
18 any financial relationships they may have with the  
19 applicant, such as consulting fees, travel  
20 expenses, honoraria, and interest in a sponsor,  
21 including equity interests and those based upon the  
22 outcome of the meeting. Likewise, FDA encourages

1 you at the beginning of your presentation to advise  
2 the committee if you do not have any such financial  
3 relationships.

4 If you choose not to address this issue of  
5 financial relationships at the beginning of your  
6 presentation, it will not preclude you from  
7 speaking.

8 We will now proceed with Paratek  
9 Pharmaceuticals' presentations.

10 **Applicant Presentation - Evan Loh**

11 DR. LOH: Good morning, Mr. Chairman,  
12 members of the advisory committee, and members of  
13 the FDA. Antibiotic resistance is a global problem  
14 of tremendous importance to all of us. New  
15 antibiotics with clinically important efficacy and  
16 safety advantages blended with other important  
17 attributes are needed in order to ensure that  
18 physicians have therapeutic options that can cure  
19 infections and save lives.

20 I'm Evan Loh, chief medical officer of  
21 Paratek. We are pleased to have the opportunity to  
22 work with you today to bring to patients a new

1 antibiotic treatment option, omadacycline, for  
2 community-acquired bacterial pneumonia, CABP, as  
3 well as acute bacterial skin and skin structure  
4 infection, ABSSSI.

5 Omadacycline, an aminomethycycline, is a  
6 next-generation tetracycline. Omadacycline's  
7 chemical structure is designed to restore  
8 tetracycline efficacy by circumventing the  
9 bacterial resistance that limits the clinical  
10 efficacy of older-generation tetracyclines.

11 The specific omadacycline structural  
12 modifications at the 7 and at the 9 position  
13 circumvent the two most common and clinically  
14 relevant tetracycline-specific mechanisms of  
15 bacterial resistance, efflux and ribosomal  
16 protection.

17 Omadacycline's key pharmacokinetic  
18 properties include an elimination half-life between  
19 14 to 16 hours, which supports once-daily dosing;  
20 oral bioavailability of approximately 35 percent  
21 with equivalent once-daily IV and oral exposures;  
22 and no dose adjustments for age, gender, renal, or

1 hepatic impairment.

2 Drug-drug interaction potential is low, an  
3 important attribute for the elderly population who  
4 often present with polypharmacy for multiple  
5 comorbidities; a steady-state plasma AUC exposure  
6 between 11 and 12 microgram hours per mL that  
7 provides an AUC-to-MIC ratio above the target MICs  
8 for relevant skin and pneumonia pathogens; low  
9 protein binding of approximately 20 percent that is  
10 not concentration dependent.

11 This translates into higher free drug  
12 concentrations, facilitating tissue penetration.  
13 Omadacycline penetrates into human lung epithelial  
14 lining fluid at concentrations approximately  
15 50 percent greater than in plasma.

16 Omadacycline is potent against relevant  
17 pathogens for the indications of focus today. The  
18 in vitro activity of omadacycline against common  
19 ABSSSI and CABP pathogens, including resistant  
20 strains, is shown here from surveillance studies in  
21 approximately 123,000 isolates.

22 A total of 27 phase 1 to phase 3 studies are

1 included in the current NDA, enrolling more than  
2 3300 patients and healthy volunteer subjects. Of  
3 those, a total of 1,947 patients were exposed to  
4 omadacycline, of which nearly 1100 were exposed in  
5 the pivotal phase 3 program.

6 The dose rationale for omadacycline is based  
7 on PK/PD data. The PK/PD index associated with the  
8 efficacy of tetracyclines is the AUC-to-MIC ratio.  
9 The magnitude of the AUC-to-MIC ratio associated  
10 with omadacycline efficacy was identified using  
11 in vitro and in vivo infection models with relevant  
12 skin and pneumonia pathogens. Monte Carlo  
13 simulations support proposed omadacycline dosing  
14 regimens for patients with CABP and ABSSSI.

15 Results of these analyses demonstrated  
16 percent probabilities of PK/PD target attainment  
17 that exceeded 90 percent at the upper margins of  
18 the MIC distributions for clinically important skin  
19 and pneumonia pathogens, including *Streptococcus*  
20 *pneumoniae*, *Haemophilus influenzae*, as well as  
21 *Staphylococcus aureus*.

22 High percent probabilities of PK/PD target

1 attainment were consistent with the high  
2 omadacycline efficacy rates demonstrated in the  
3 phase 3 CABP and skin trials.

4 The loading dose and maintenance regimens  
5 for the OPTIC, OASIS I, and OASIS II trials are  
6 summarized here. Dr. Anita Das will discuss them  
7 in more detail during the efficacy review.

8 Following this introduction, Dr. Keith Kaye  
9 will describe the important unmet needs for new  
10 antibiotics in ABSSSI and CABP. Dr. Anita Das will  
11 show that all three pivotal studies demonstrated  
12 noninferiority efficacy to the comparators,  
13 linezolid for ABSSSI and moxifloxacin for CABP.

14 I will show that omadacycline is safe and  
15 well tolerated. Dr. Eric Mortensen, an expert in  
16 pneumonia and pneumonia-related mortality will show  
17 that the benefit-risk assessment is favorable for  
18 both indications. Evan Tzanis, chief development  
19 officer at Paratek, will moderate the question-and-  
20 answer session. All of the external speakers have  
21 been compensated for their time and travel. None  
22 have a financial interest in Paratek nor in the

1 outcome of this meeting.

2 Now, here's Dr. Kaye to address unmet need.

3 Dr. Kaye?

4 **Applicant Presentation - Keith Kaye**

5 DR. KAYE: Good morning. I am Dr. Keith  
6 Kaye, the director of research in the Division of  
7 Infectious Diseases at University of Michigan  
8 Medical Center. I'm actively involved in clinical  
9 care, antibiotic research, and stewardship.

10 In my infectious diseases practice, I am  
11 often faced with patients who may be infected with  
12 drug-resistant pathogens and patients who present  
13 with comorbidities or allergies, which make  
14 choosing the right antibiotic complicated.

15 Antimicrobials are losing their  
16 effectiveness due to increasing antimicrobial  
17 resistance and dissemination of resistant  
18 pathogens. Thus, discovery of new agents to fight  
19 antimicrobial-resistant infections is a priority  
20 focus of FDA. Antibiotic resistance to frequently-  
21 used antibiotics occurs naturally over time,  
22 sometimes necessitating use of older antimicrobials

1 that may be associated with safety and tolerability  
2 limitations.

3 According to the Centers for Disease  
4 Control, every year, 2 million patients acquire  
5 serious infections with resistant bacteria, and  
6 23,000 people die as a direct result of these  
7 infections. Novel antibiotics with good safety  
8 profiles are needed to address these emerging  
9 resistance challenges.

10 The incidence of skin infections requiring  
11 hospitalization has increased almost 900,000  
12 hospital admissions annually, which has coincided  
13 with the emergence of community-acquired MRSA.  
14 MRSA is often resistant to multiple classes of  
15 antibiotics and is on the World Health Organization  
16 priority pathogen list for new antibiotics.

17 Antimicrobial resistance is associated with  
18 ineffective empiric therapy and results in delayed  
19 implementation of effective antibiotic treatment.  
20 These delays can result in treatment failure,  
21 increased length of stay, increased duration of  
22 treatment, and recurrent skin infections.

1           New agents with the appropriate spectrum to  
2           treat MRSA skin infection have been developed, most  
3           of which are available only in intravenous  
4           formulations. The only recent oral agents  
5           developed to treat MRSA are oxazolidinones and  
6           delafloxacin, both of which are associated with  
7           safety concerns, including drug-drug interactions,  
8           C. difficile risk, and toxicity limitations.  
9           Additional oral options are needed.

10           Another common infectious disease that has  
11           been impacted by antibiotic resistance is  
12           community-acquired pneumonia or CAP. CAP is the  
13           most common infectious disease leading to  
14           hospitalization and mortality among all age groups  
15           and, together with influenza, is the eighth leading  
16           cause of death in the United States.

17           The all-cause 30-day mortality in  
18           hospitalized patients exceeds 10 percent, with  
19           higher rates of mortality in subpopulations such as  
20           the elderly and those with severe pneumonia.  
21           Hospitalization rates of pneumonia are increased in  
22           older populations and in populations with certain

1 comorbid conditions, including COPD, heart failure,  
2 and diabetes.

3 The most common bacterial pathogen is  
4 Streptococcus pneumoniae, which due to increasing  
5 antimicrobial resistance is classified by the  
6 Centers for Disease Control as a serious threat.  
7 Increased rates of antimicrobial resistance in  
8 Streptococcus pneumoniae have been observed in  
9 beta-lactams, macrolides, and older-generation  
10 tetracyclines.

11 In addition, although MRSA is an infrequent  
12 cause of pneumonia, it has been associated with  
13 severe disease and requires consideration when  
14 choosing empiric therapy.

15 High rates of resistance among pneumonia  
16 pathogens are associated with ineffective empiric  
17 therapy, resulting in an increased risk for  
18 treatment failure, mortality, and prolonged  
19 duration of hospitalization. Current treatment is  
20 largely empiric and guidelines recommend coverage  
21 for typical and atypical pathogens. Guidelines  
22 recommend beta-lactams, fluoroquinolones, and

1 macrolides, but these classes have known allergy  
2 safety and resistance limitations.

3 Beta-lactam allergy limits the use of first-  
4 line ceftriaxone treatment while the  
5 fluoroquinolones have a boxed warning and several  
6 safety concerns, including tendonitis, tendon  
7 rupture, and neurologic toxicities.

8 In addition, FDA recently strengthened  
9 warnings regarding mental health side effects and  
10 serious blood sugar disturbances. Fluoroquinolones  
11 and cephalosporins are also associated with an  
12 increased risk for C. difficile infection, which in  
13 patients with pneumonia is associated with  
14 increased 30-day and 1-year mortality. Thus,  
15 clinicians are in need of new oral agents for  
16 pneumonia to address limitations of current  
17 treatment options.

18 To summarize, antibiotic resistance is a  
19 constant and growing concern and has been  
20 recognized by the World Health Organization as one  
21 of the biggest threats to global health. Skin  
22 infection and pneumonia are serious diseases which

1 often involve resistant pathogens and frequently  
2 occur in older populations with underlying comorbid  
3 conditions.

4 In the current environment of increasing  
5 antimicrobial resistance and complex patient  
6 populations, there is an urgent need for new  
7 antibiotics that will provide clinicians with safe  
8 and effective therapeutic options that are active  
9 against resistant pathogens.

10 Ideally, these therapies would be available  
11 in both intravenous and oral formulations, which  
12 would facilitate transitioning patients from the  
13 hospital.

14 Thank you. I will now turn things over to  
15 Dr. Das, who will discuss efficacy.

16 **Applicant Presentation - Anita Das**

17 DR. DAS: Thank you, Dr. Kaye.

18 My name is Anita Das, and I'm the  
19 statistician for the phase 3 omadacycline program.  
20 I've been involved with the development of  
21 antibiotics for over 10 years, including working  
22 with the Foundation of the National Institutes of

1 Health on development of endpoints in ABSSSI and  
2 CABP. I will present the efficacy results showing  
3 that omadacycline was noninferior to the comparator  
4 in all three phase 3 studies.

5 The two phase 3 studies, ABSSSI, are OASIS-1  
6 or 1108, which was an IV-to-oral study, and OASIS-2  
7 or 16301, which was an oral-only study. Both  
8 studies have similar designs. They were  
9 randomized, comparator controlled, double dummy,  
10 blinded, noninferiority studies utilizing a  
11 10 percent noninferiority margin, consistent with  
12 the FDA guidance.

13 In OASIS-1, patients received a minimum of  
14 3 days of IV therapy and could be switched to oral  
15 if prespecified criteria were met for a total of 7  
16 to 14 days of treatment. In OASIS-2, patients  
17 received all oral therapy for a total of 7 to  
18 14 days of treatment.

19 Consistent with the FDA guidance, the  
20 primary efficacy endpoint was early clinical  
21 response at 48 to 72 hours post first dose in the  
22 modified intent-to-treat population. To be

1 considered an early clinical success, patients must  
2 have been alive and had at least a 20 percent  
3 reduction in lesion area without receiving rescue  
4 anti-bacterials.

5 The secondary endpoints were investigator's  
6 assessment of clinical response at a post-treatment  
7 evaluation occurring 7 to 14 days after the last  
8 day of treatment in the modified ITT and clinically  
9 evaluable or per-protocol populations. A clinical  
10 success of PTE was defined as the patient was  
11 alive, and the investigator determined that the  
12 infection had sufficiently resolved such that no  
13 further anti-bacterial therapy was required.

14 For safety, patients were followed from time  
15 of consent to the final follow-up visit, which  
16 occurred between days 30 and 37. Both studies  
17 enrolled patients with a wound infection,  
18 cellulitis/erysipelas, or major abscess. The  
19 infection had to be at least 75 centimeters  
20 squared. Patients also had to have evidence of a  
21 systemic response to the infection.

22 For OASIS-1, patients could not have

1 received anti-bacterial treatment within the  
2 72-hour period prior to the first dose of test  
3 article. However, for OASIS-2, a single dose of a  
4 short-acting anti-bacterial was allowed in up to  
5 25 percent of patients. For both studies, patients  
6 could not have used a topical anti-bacterial agent  
7 continuously within the 72-hour period prior to the  
8 first dose of test article.

9 In both studies, treatment groups were well  
10 balanced with respect to demographics. The  
11 majority of patients were male and between 18 and  
12 45 years of age. The median lesion area of about  
13 300 centimeters squared was well above the minimum  
14 lesion area of 75 centimeters squared required for  
15 enrollment.

16 In OASIS-2, over 50 percent of patients had  
17 a wound infection and very few patients received a  
18 prior anti-bacterial. In both OASIS-1 and OASIS-2,  
19 omadacycline was found to be noninferior to  
20 linezolid in the modified ITT population. In both  
21 studies, outcome rates in the omadacycline group  
22 were high at 85 percent or greater.

1           The lower bound of the 95 percent confidence  
2 interval was minus 6.3 in OASIS-1 and minus 0.2 in  
3 OASIS-2, both of which were well within the margin  
4 of noninferiority of 10 percent.

5           Clinical success rates based on the  
6 investigator's assessment at the PTE visit in both  
7 the modified ITT and CE populations were high,  
8 similar between treatment groups, and consistent  
9 between studies.

10           In the pooled data, 84 percent of  
11 omadacycline and 80 percent of linezolid patients  
12 with a pathogen were an investigator-assessed  
13 clinical success at PTE. High clinical success  
14 rates at PTE were also observed for common ABSSSI  
15 pathogens, including MRSA, with clinical success  
16 rates of 84 percent for omadacycline and 82 percent  
17 for linezolid.

18           Several other subgroup analyses were  
19 conducted. Pooled data are shown on this slide  
20 with early clinical success shaded in gray and  
21 investigator's assessment of clinical success at  
22 PTE presented in the unshaded rows. Regardless of

1 infection type or lesion size, omadacycline and  
2 linezolid had high and similar response rates.

3 Data from OASIS-1 and OASIS-2 demonstrate  
4 that omadacycline meets the standard of substantial  
5 evidence of efficacy for the treatment of adults  
6 with ABSSSI. In both studies, omadacycline was  
7 noninferior to linezolid for the primary outcome of  
8 early clinical response.

9 In addition, the investigator-assessed  
10 clinical success rates were high and similar  
11 between treatment groups at the PTE visit and for  
12 each of the most common and clinically relevant  
13 ABSSSI pathogens, including MRSA. Efficacy is  
14 maintained for both early clinical response and  
15 investigator's assessment of clinical response at  
16 PTE in subgroups based on infection type and lesion  
17 size.

18 The community-acquired bacterial pneumonia  
19 study, OPTIC or 1200, was an IV-to-oral study in  
20 which robust efficacy was demonstrated. OPTIC was  
21 a randomized comparator-controlled, double-dummy,  
22 blinded, noninferiority study utilizing 10 percent

1 noninferiority margin as agreed to with the FDA.  
2 In this study, patients received a minimum of 3  
3 days of IV therapy and could be switched to oral if  
4 prespecified criteria were met, for a total of 7 to  
5 14 days of treatment.

6 As indicated in the FDA guidance, the  
7 primary efficacy endpoint was early clinical  
8 response at 72 to 120 hours post-first dose in the  
9 ITT population. An early clinical success was  
10 defined as the patient being alive with improvement  
11 in at least 2 of the 4 cardinal CABP symptoms, no  
12 worsening of any of the 4 symptoms and no receipt  
13 of a rescue anti-bacterial.

14 Improvement was considered a decrease by at  
15 least one category of severity, assessed as absent,  
16 mild, moderate, and severe, and worsening was  
17 considered an increase by at least one category of  
18 severity.

19 The secondary endpoints were the  
20 investigator's assessment of clinical response at  
21 the post-treatment evaluation, occurring 5 to  
22 10 days after the last treatment day in the ITT and

1 CE or per-protocol populations. A clinical success  
2 at PTE was defined as the patient was alive, and  
3 the investigator determined that the signs and  
4 symptoms of CABP had resolved such that no new  
5 anti-bacterial therapy was required.

6 For safety, patients were followed from time  
7 of consent to the final follow-up visit, which  
8 occurred between days 30 and 37.

9 To be enrolled in the study, patients had to  
10 have radiographically confirmed pneumonia and a  
11 PORT risk class of II, III, or IV. The protocol  
12 limited enrollment of PORT II to no more than  
13 15 percent of patients. Patients were required to  
14 have at least 3 days of IV treatment. In addition,  
15 patients were required to have at least 3 of the  
16 cardinal CABP symptoms, 2 abnormal vital signs, and  
17 at least 1 clinical sign or laboratory finding  
18 associated with CABP.

19 Patients were excluded from the study if  
20 they received more than 1 dose of a potentially  
21 effective systemic anti-bacterial within 72 hours  
22 of first dose with the exception that a single dose

1 of a short-acting anti-bacterial was allowed in no  
2 more than 25 percent of patients.

3 Additionally, patients known or suspected to  
4 have hospital-acquired or healthcare-associated  
5 pneumonia or evidence of septic shock were to be  
6 excluded.

7 Treatment groups were balanced with respect  
8 to demographics. The majority of patients were  
9 male with a mean age of approximately 61 years.  
10 Twenty percent of males in both treatment groups  
11 were greater than 75 years. Eighteen percent of  
12 patients had COPD or asthma and about 45 percent of  
13 patients were current or former smokers.

14 The study population represents patients  
15 with moderate to severe CABP who require  
16 hospitalization. The majority of patients had a  
17 PORT risk class of III, with 28 percent of patients  
18 with a PORT risk class of IV. About a quarter of  
19 patients presented with a multi-low-bar pneumonia,  
20 and bacteremia was present in approximately  
21 4 percent of patients.

22 Omadacycline was found to be noninferior to

1       moxifloxacin for the primary endpoint of early  
2       clinical response. Outcome rates in both treatment  
3       groups were high at approximately 82 percent. The  
4       lower bound of the 95 percent confidence interval  
5       was minus 7.1, which was well within the protocol-  
6       specified margin of noninferiority of 10 percent.

7               Secondary endpoints of investigator's  
8       assessment of clinical success at the PTE visit in  
9       both the ITT and CE populations were high and  
10      similar between treatment groups. The consistency  
11      in success rates between the early time point and  
12      at the PTE visit demonstrated durable clinical  
13      response.

14             Fifty percent of patients had a CABP  
15      pathogen isolated from a respiratory or blood  
16      sample or detected by urinary antigen or serology.  
17      In this population, 89 percent of omadacycline and  
18      87 percent of moxifloxacin patients were an  
19      investigator-assessed clinical success at PTE.

20             For the most common causative pathogens,  
21      including *Streptococcus pneumoniae*, *Haemophilus*  
22      *influenzae*, and the atypical pathogens, similar

1 clinical success rates at PTE between treatment  
2 groups were seen.

3 Efficacy was analyzed by subgroups, which  
4 categorized patients by risk of mortality. In both  
5 treatment groups, high clinical success rates by  
6 PORT risk class were seen for early clinical  
7 response and investigator's assessment of clinical  
8 response, including those patients with the highest  
9 risk of mortality, PORT risk class IV.

10 In patients 75 years or older, high and  
11 similar clinical success rates were observed  
12 between treatment groups for early clinical  
13 response and at PTE.

14 The modified ATS minor criteria and  
15 SMART-COP are used to classify patients by severity  
16 of CABP. For early clinical response, there is a  
17 numerical difference between omadacycline and  
18 moxifloxacin for patients with at least 3 of the  
19 ATS minor criteria. However, the clinical success  
20 rates are within the expected variability given the  
21 small sample size.

22 Early clinical success rates for patients

1 with at least 3 of the SMART-COP risk criteria were  
2 80 percent for the omadacycline group and  
3 82 percent for the moxifloxacin group. At PTE,  
4 investigator-assessed clinical success rates in  
5 both subgroups are high and similar between  
6 treatment groups, demonstrating that omadacycline  
7 has efficacy in more severe CABP patients.

8 To further explore the early efficacy of  
9 omadacycline and the mortality risk and severity  
10 subgroups, clinical failure due to receipt of a  
11 rescue anti-bacterial and stabilization of vital  
12 signs were examined. In all subgroups, very few  
13 patients in the omadacycline and moxifloxacin  
14 groups received a rescue anti-bacterial.

15 In addition, the rates of stabilization of  
16 vital signs at the ECR time point were at least  
17 80 percent in both treatment groups. Both measures  
18 of efficacy indicate that patients were responding  
19 at the early clinical response time point.

20 Data from the OPTIC study demonstrates that  
21 omadacycline meets the standard for substantial  
22 evidence of efficacy for the treatment of CABP.

1 Omadacycline demonstrated noninferiority to  
2 moxifloxacin for the primary outcome of early  
3 clinical response and high success rates at PTE  
4 based on the investigator's assessment.

5 Clinical success rates at PTE for target  
6 CABP pathogens were also high and similar to those  
7 observed for moxifloxacin. Early clinical response  
8 and investigator assessment of clinical response  
9 results were consistently observed across subgroups  
10 with higher mortality risk or higher severity.

11 In conclusion, data from the three phase 3  
12 studies show that omadacycline is effective for the  
13 treatment of ABSSSI and CABP. Consistent efficacy  
14 results for both early clinical response and  
15 investigator assessment of clinical response at the  
16 PTE visit were found across the indications and  
17 demonstrate durability of response.

18 The efficacy results persisted in subgroup  
19 analyses based on severity measures and by  
20 pathogen. These studies have shown substantial  
21 evidence of the efficacy of omadacycline.

22 Thank you. Dr. Loh will now present the

1 safety data.

2 **Applicant Presentation - Evan Loh**

3 DR. LOH: Thank you, Dr. Das.

4 I'm Evan Loh, and I've been in my role as  
5 chief medical officer since 2012. I'm an internist  
6 and cardiologist in clinical practice for nearly  
7 20 years at the Brigham and Women's Hospital and  
8 also at the University of Pennsylvania Health  
9 System, where I was the medical director of the  
10 heart failure and cardiac transplantation program,  
11 as well as director of the coronary care unit.

12 I'm pleased to have the opportunity to  
13 provide this safety overview for omadacycline  
14 across the entire phase 3 development program.  
15 This large safety database contains over 1900  
16 healthy volunteers and patients exposed to  
17 omadacycline.

18 Of the total exposed, 1,073 were from the  
19 three large pivotal phase 3 studies, resulting in  
20 exposures of more than 700 patients to the IV  
21 formulation and more than 900 patients to the oral  
22 formulation of omadacycline. In each of the

1 pivotal phase 3 studies, mean duration of therapy  
2 was between 8 to 10 days and similar between  
3 omadacycline and the respective comparators.

4 The safety overview will demonstrate that  
5 omadacycline is safe and well tolerated. The data  
6 safety monitoring committee chaired by Steven Opal,  
7 Brown University, was in place for the pivotal IV  
8 to oral OASIS-1 and OPTIC studies to monitor  
9 benefit-risk.

10 For today's presentation, we will focus on  
11 disposition, adverse events, and mortality. The  
12 rates of treatment completion and overall study  
13 completion were approximately 90 percent in both  
14 the skin and pneumonia studies. Approximately  
15 50 percent of patients experienced at least 1 TEAE,  
16 and these will be reviewed on subsequent slides.

17 There were low and similar rates of patients  
18 who experienced serious adverse events and of  
19 patients who discontinued test article for TEAEs.  
20 Mortality rates were low across the skin and  
21 pneumonia studies.

22 In the phase 3 skin studies, the most common

1 adverse events observed with omadacycline were  
2 nausea and vomiting, which were mild to moderate in  
3 severity and not treatment limiting. The  
4 difference in rates between omadacycline and  
5 linezolid was due to the higher rates of nausea and  
6 vomiting observed in the oral loading dose period  
7 on days 1 and 2 in the OASIS-2 trial.

8 Nausea and vomiting rates were lower on the  
9 list of TEAEs in the OPTIC study. The most common  
10 adverse events observed with omadacycline in the  
11 OPTIC trial were elevations in transaminases, which  
12 were generally low in amplitude, asymptomatic, and  
13 not treatment limiting.

14 Diarrhea occurred at a rate of 8 percent in  
15 moxifloxacin and in 1 percent of omadacycline  
16 patients. Eight cases or 2.1 percent of  
17 moxifloxacin patients had *Clostridium difficile*  
18 infection. To date, no cases of *Clostridium*  
19 *difficile* infection have been reported in  
20 omadacycline-treated patients.

21 The mortality rate in the pooled safety  
22 population was low and similar between omadacycline

1 and comparators. Overall, 10 omadacycline and  
2 7 comparator patients died in the clinical  
3 development program with low rates observed in each  
4 of the phase 3 studies. The difference in rates  
5 between omadacycline and comparators across all  
6 studies is 0.2 percent with a 95 percent confidence  
7 interval of minus 0.4 to 0.9.

8 Across all of the skin studies, the  
9 mortality rate is 0.2 percent for omadacycline and  
10 0.3 percent for linezolid. In the pivotal phase 3  
11 ABSSSI studies, 1 omadacycline and 3 linezolid  
12 patients died. One patient in each treatment group  
13 died from an illicit drug overdose. 2 linezolid  
14 patients died from cardiac causes, including a  
15 cardiac arrest and a myocardial infarction.

16 In the OPTIC study, there were 12 patients  
17 who died, 8 in the omadacycline treatment arm and 4  
18 in the moxifloxacin treatment arm. The rate  
19 difference is 1.1 percent, with a 95 percent  
20 confidence interval of minus 0.7 to 2.8.

21 1 moxifloxacin death occurred more than 30 days  
22 after the first dose of study drug. Therefore, if

1 considering 30-day all-cause mortality, there were  
2 8 omadacycline and 3 moxifloxacin patients who  
3 died.

4 To understand the numerical difference in  
5 mortality rates in the OPTIC study, Paratek  
6 conducted a systematic examination to determine if  
7 there was a possible causal relationship between  
8 omadacycline treatment and mortality. We also  
9 endeavored to fully understand if there could be a  
10 lack of efficacy or a safety signal associated with  
11 the numerical differences in mortality.

12 First, we asked whether the rates observed  
13 in OPTIC were similar to or different from  
14 contemporary randomized phase 3 CABP studies.  
15 Contemporary registration trials in pneumonia from  
16 2009 to the present have reported mortality rates  
17 that range from 0.8 percent to 3.2 percent.

18 From the top of the slides to the bottom,  
19 the mortality rates are arranged from the highest  
20 to the lowest by investigational treatment arm,  
21 which are represented by the black solid dots,  
22 while the comparator treatment arms are represented

1 by the open circles.

2 The omadacycline mortality rate in OPTIC  
3 falls in the middle of the range at 2.1 percent.  
4 The moxifloxacin mortality rate was 1.0 percent.  
5 Importantly, because of the low frequency event  
6 rates, we examined the specific cause of mortality  
7 on a case-by-case basis.

8 All 12 deaths observed in the OPTIC study  
9 occurred in patients over the age of 65. Three  
10 patients over the age of 75 died in each treatment  
11 group. No particular pattern in terms of the  
12 timing or the causes of death were observed within  
13 the treatment groups. The causes of death are not  
14 unexpected in patients hospitalized with community-  
15 acquired bacterial pneumonia.

16 Three of the omadacycline mortality cases  
17 have evidence for early efficacy. These 3 cases  
18 were clinical cures, discharged to home, and then  
19 readmitted with diagnoses that were distinct from  
20 their indexed pneumonia. All deaths occurred more  
21 than 6 days following treatment completion.

22 Omadacycline 5 was a 68-year-old patient who

1 was discharged and readmitted 6 days later with a  
2 fatal stroke in a setting of atrial fibrillation  
3 not treated with systemic anti-coagulation.

4 OMC6 was a 90-year-old patient with a prior  
5 history of myocardial infarction and severe aortic  
6 stenosis, who was discharged and readmitted 1 day  
7 later with an acute myocardial infarction. The  
8 patient had sudden cardiac decompensation and died  
9 from cardiogenic shock 5 days later.

10 OMC8 was an 86-year-old patient who was  
11 discharged and readmitted 6 days later with a  
12 de novo contralateral, hospital-acquired pneumonia  
13 that progressed to pulmonary failure and death.

14 Given the clinical evidence of early  
15 efficacy in these 3 cases, mortality does not  
16 appear to be related to the indexed pneumonia, nor  
17 to treatment with omadacycline.

18 Of the remaining mortality cases, there were  
19 5 omadacycline-treated patients and 2 moxifloxacin-  
20 treated patients who died while hospitalized with  
21 their indexed pneumonia. The etiology of deaths  
22 appeared to fall within either of two categories.

1 One category is cardiac and vascular, 3  
2 omadacycline versus 1 moxifloxacin, or progression  
3 of the indexed pneumonia, 2 with omadacycline and 1  
4 with moxifloxacin.

5 Let's review the cardiac and vascular cases  
6 in patients who died during hospitalization for the  
7 indexed pneumonia. OMC2 was a 76-year-old patient  
8 clinically stable on day 2 with cardiac risk  
9 factors who suffered from an unwitnessed  
10 cardiorespiratory arrest.

11 Electrocardiographic assessments post-  
12 omadacycline infusion on day 1 and pre-omadacycline  
13 infusion on day 2 did not demonstrate any increases  
14 in heart rate nor in QTc, thus making it unlikely  
15 that there was a substrate for proarrhythmia. The  
16 investigator considered pulmonary embolism as a  
17 potential cause of death.

18 OMC3 was a 66-year-old patient with COPD who  
19 had a fatal MI on day 2. He had stable vital signs  
20 with no changes in heart rate or QTc on day 2  
21 observed prior to his fatal event.

22 OMC4 was a 72-year-old patient with a known

1 large thoracic aortic aneurysm who on day 9 had the  
2 sudden onset of severe chest pain and shortness of  
3 breath, followed by cardiovascular collapse and  
4 death, a clinical syndrome consistent with aortic  
5 dissection or rupture.

6 Given the underlying cardiovascular  
7 comorbidities in these patients, none of these  
8 deaths were unexpected nor appear related to  
9 omadacycline.

10 Next, let's review the cases of progression  
11 of pneumonia. OMC1 was a 67-year-old with  
12 pneumococcus, cultured from both blood and sputum,  
13 who died from overwhelming pneumococcal sepsis,  
14 having received only 1 dose of omadacycline. It is  
15 unlikely that any antibiotic would have impacted  
16 this outcome.

17 OMC7 was a 74-year-old who presented with a  
18 polymicrobial multi low-bar pneumonia. On day 5,  
19 omadacycline treatment was discontinued and  
20 meropenem was initiated. Despite appropriate  
21 antibiotic therapy for the pathogens cultured, the  
22 clinical course progressed to sepsis, multisystem

1 organ failure, and death. Mortality from the  
2 progression of pneumonia is not an unexpected  
3 clinical outcome in patients hospitalized with  
4 pneumonia.

5 We also wanted to examine whether a lack of  
6 efficacy was driving the small numerical difference  
7 in mortality. We observed that omadacycline non-  
8 responders were similar to, if not lower than,  
9 moxifloxacin non-responders at the ECR, end-of-  
10 therapy, and PTE time points. Similarly, we also  
11 observed a lower rate of rescue antibiotic use in  
12 omadacycline-treated patients at all of these time  
13 points.

14 Beyond the day 1 to ECR time window, new  
15 mortality events that occurred within the ECR-to-  
16 EOT time window and within the post-EOT time window  
17 appeared balanced. Within day 1 to ECR time  
18 window, there were 3 omadacycline patients who  
19 died. All 3 of these patients died on day 2.

20 There was a single case of progression of  
21 the incident pneumonia. That was the pneumococcal  
22 sepsis case that I just previously described. The

1 remaining 2 omadacycline patients died from  
2 cardiovascular etiologies that were unrelated to  
3 omadacycline treatment as previously reviewed.  
4 Therefore, these data allow us to conclude that a  
5 lack of efficacy does not explain the numerical  
6 difference in mortality with omadacycline.

7 In order to determine if there was a  
8 background rate of an adverse event or a group of  
9 adverse events that were driving the observed  
10 numerical difference in deaths, we examined serious  
11 TEAEs between treatment groups.

12 Serious TEAEs occurring at a greater than or  
13 equal to 1 percent frequency by system organ class  
14 are presented here. Serious TEAEs were low and  
15 similar between omadacycline and moxifloxacin  
16 overall. When the 12 deaths from these SOC  
17 categories are excluded, as shown in the right-most  
18 two columns, a small difference in respiratory  
19 disorders remains. However, when looking at the  
20 preferred terms for respiratory failure, there is  
21 no imbalance noted.

22 Therefore, there is no background rate of an

1 SAE or grouping of SAEs that suggest a safety  
2 signal that explains the numerical difference in  
3 mortality with omadacycline.

4 We have thoroughly investigated the data,  
5 and we conclude that the small numerical difference  
6 in mortality observed in the OPTIC trial is likely  
7 to be a chance event. The mortality rates are low  
8 and consistent with in-contemporary phase 3  
9 randomized CABP studies.

10 The review of the mortality cases provided  
11 no basis for a causal link to treatment. There is  
12 no evidence for a lack of efficacy or safety signal  
13 linked to mortality. The deaths appear related to  
14 underlying comorbidities or progression of the  
15 index pneumonia.

16 In conclusion, TEAE and SAE rates were  
17 similar to comparator with low treatment  
18 discontinuation rates. Nausea and vomiting were  
19 the most common adverse event, which were mild to  
20 moderate and not treatment limiting.

21 No cases of Clostridium difficile were  
22 reported in omadacycline-treated patients. No

1 clinically meaningful post-baseline changes in  
2 laboratory values, vital signs, and  
3 electrocardiograms were observed. There's a low  
4 proarrhythmic risk potential for omadacycline.

5 Liver transaminase increases were transient,  
6 of low amplitude, and generally asymptomatic. No  
7 Hy's law cases were observed. Mortality rates were  
8 low, similar to comparator, and consistent with  
9 expected rates and causes in both the skin and  
10 pneumonia studies. In conclusion, omadacycline is  
11 safe and well tolerated.

12 Now, I would like to introduce Dr. Eric  
13 Mortensen, who will discuss benefit-risk.

14 Dr. Mortensen?

15 **Applicant Presentation - Eric Mortensen**

16 DR. MORTENSEN: Thank you for the  
17 opportunity to address this advisory committee  
18 today. I am Eric Mortensen, an internal medicine  
19 physician with almost 20 years of research and  
20 publishing in the area of infectious diseases,  
21 pneumonia, and mortality after pneumonia.

22 I still see patients regularly who are

1 struggling with both of the infections under  
2 discussion today. As you've heard from Dr. Kaye,  
3 the need for new treatments for these infections is  
4 urgent. Like many of you in practice, I experience  
5 a frustration of a limited array of options for  
6 these infections.

7 While we thank the FDA for prioritizing  
8 antibiotic development, we still have an acute need  
9 for new and improved treatment options. The  
10 urgency is greatest in CABP.

11 We have been waiting almost 20 years for a  
12 new antibiotic with both IV and oral options.  
13 During that time, we have seen increasing bacterial  
14 resistance in patients coming from the community  
15 with pneumonia. Our primary antibiotic options in  
16 CABP are limited to quinolones or combination  
17 therapy. The most common pairing is a  
18 cephalosporin and a macrolide.

19 While there is a substantial need for  
20 antibiotics active against resistant strains, they  
21 need to be both effective and safe. With safety in  
22 mind, I performed a comprehensive assessment of the

1 mortality results in the CABP study. In my  
2 evaluation of this data, I paid particular  
3 attention to the post-treatment cure results as  
4 they most reflect the clinical goals for antibiotic  
5 therapy.

6 Patients' symptoms early in the hospital  
7 course can vary. Normally, we assess clinical  
8 stability by examining both symptoms and objective  
9 measures, including vitals and signs of infections  
10 like white blood cell count. These signs of  
11 clinical stability have been demonstrated to better  
12 predict clinical cure.

13 During my review of the cases, I have not  
14 seen any safety issues or lack of efficacy in the  
15 omadacycline arm. The mortality rates are low and  
16 the causes of death are consistent with what I see  
17 in my clinical practice. I also do not see an  
18 imbalance in the adverse events that would precede  
19 mortality, such as non-fatal myocardial infarction  
20 or respiratory failure.

21 During my review, I found three cases that  
22 demonstrated progression of the pneumonia, 2 on

1       omadacycline and 1 on moxifloxacin. Therefore, I  
2       do not believe that efficacy is driving the numeric  
3       differences in mortality. My review finds no  
4       causal link between the treatment assignments and  
5       mortality.

6               Regarding the risks of omadacycline, they  
7       were overall similar to other members of the  
8       tetracycline class. There were no new or  
9       unexpected events. Nausea and vomiting were the  
10      most commonly observed events and were not  
11      associated with discontinuation.

12              To maximize bioavailability, oral  
13      omadacycline should be taken with water after  
14      fasting for 4 to 6 hours. As a once-per-day  
15      treatment, this should not be a barrier to patient  
16      compliance. There is no data in patients requiring  
17      long-term treatment, pediatric patients, or the  
18      immunosuppressed.

19              Finally, as described in the briefing  
20      documents, the development of bacterial resistance  
21      to omadacycline was not seen. However, resistance  
22      monitoring post-approval is necessary. These risks

1 are outweighed by the benefits.

2 Omadacycline is active against many  
3 resistant pathogens. It has been demonstrated to  
4 be noninferior to linezolid for serious skin  
5 infections and moxifloxacin for community-acquired  
6 bacterial pneumonia. As with all tetracyclines,  
7 there is low potential for C. difficile infections  
8 with no C. difficile infections identified during  
9 the development program.

10 Omadacycline has both IV and oral  
11 formulations that require only once-daily dosing.  
12 It meets the need for an IV and oral antibiotic for  
13 serious skin infections in CABP. There were also  
14 no significant drug-drug interactions. There are  
15 no dose adjustments that need to be made in those  
16 with renal or liver impairment.

17 These features of omadacycline are  
18 particularly important to those of us who treat  
19 geriatric patients admitted with serious skin  
20 infections and pneumonia.

21 The benefits of omadacycline are clear.  
22 It's potent against resistant strains that are

1 increasingly prevalent in the community. It has  
2 demonstrated clinical efficacy as an empiric  
3 treatment. The risk profile is consistent with  
4 what we see for the tetracycline class. It was  
5 generally well tolerated.

6 Therefore, the benefits substantially  
7 outweigh the risks for both indications, and this  
8 will make a real difference for our patients. It  
9 gives physicians a new flexible treatment option.

10 Thank you. Now, Evan Tzanis will moderate  
11 the sponsor Q&A team.

#### 12 **Clarifying Questions**

13 DR. BADEN: Thank you, and I'd like to thank  
14 the applicant for quickly covering a lot of data  
15 from many studies and thousands of patients, very  
16 much appreciated. Before we move into the  
17 clarification question segment, I just wanted to  
18 remind the committee, some of whom are new members,  
19 that the format that I prefer to do is we start a  
20 theme. And if you want to build -- if you have  
21 questions, let myself or Lauren know.

22 Once we ask a question, if you have a

1 follow-on, let me know so that we can build on a  
2 theme and try to develop the themes as much as  
3 possible.

4 So we will start, and please remember to  
5 state your name for the record before you speak.  
6 Please direct the question to a presenter or Evan  
7 will guide the response from the applicant. So  
8 we'll start with Dr. Calhoun.

9 DR. CALHOUN: Thank you. Bill Calhoun. I  
10 have a couple of clarifying questions here. One of  
11 the measurement tools for symptomatic improvement  
12 defines early clinical success. So I understand  
13 that tool is a patient-reported outcome with  
14 categories of absent, mild, moderate, and severe.

15 So my question is, is there any language  
16 around those categories that help patients  
17 determine where their symptom might lie? I can  
18 imagine that might differ patient to patient, and  
19 it might even differ within patient. And are these  
20 categorizations independent of placebo effects?

21 MR. TZANIS: Thank you. I'll actually ask  
22 Dr. Das to specifically address the symptom scales

1 used to assess early clinical response.

2 Just to clarify before she comes up here,  
3 the ECR is actually physician assessed, so it's not  
4 a patient self-assessment tool. Dr. Das was  
5 involved in the development of the ECR criteria.

6 Dr. Das?

7 DR. DAS: Yes. Can we please have slide 1  
8 up? This slide provides for each of the 4 cardinal  
9 CABP symptoms: cough, pleuritic chest pain,  
10 shortness of breath, and sputum production, the  
11 definitions that we had in the protocol for absent,  
12 mild, moderate, and severe.

13 So we did train the investigators on using  
14 this tool, using it as outlined here. There's no  
15 way, really, to assess the placebo effect in this  
16 study. And as we stated, it's not really a PRO in  
17 the sense of a validated patient-reported. It's  
18 investigator discussing with the patient their  
19 symptoms and also for certain symptoms, assessing  
20 it themselves.

21 DR. CALHOUN: The table, if I recall  
22 correctly, was in the briefing document. So as I

1 was looking at it, I was a little concerned that  
2 the differentiation of moderate and severe was a  
3 challenge. So in that regard, the fraction of  
4 people who had severe symptoms, who had efficacy  
5 demonstrated by a change to moderate, might be  
6 interesting to take a look at.

7 DR. BADEN: If no follow-ons,  
8 Dr. Gripshover?

9 DR. GRIPSHOVER: I just had a question. I  
10 noticed that in your proposed indication for skin  
11 and soft tissue, you said, Staph aureus including  
12 with bacteremia, and I wondered if you could show  
13 us some data on that. Bacteremia Staph aureus is a  
14 more serious infection.

15 MR. TZANIS: Absolutely. Dr. McGovern can  
16 review the bacteremia data with you. Overall, it  
17 was a relatively low event rate of bacteremia, but  
18 we have that data for you.

19 Dr. McGovern?

20 DR. MCGOVERN: Thank you. Paul McGovern.  
21 I'm an infectious disease physician and vice  
22 president of clinical affairs at Paratek. There

1 were only a few bacteremias in either the OASIS-1  
2 or OASIS-2 study. We're still waiting for the  
3 slide. There were only 2 failures among the  
4 bacteremic patients with Staph aureus, one in  
5 OASIS-2, which was a patient with MSSA and  
6 Strept sanguinis, who on day 3 received pip/tazo  
7 and vancomycin and nafcillin. Day 3 cultures were  
8 positive.

9 There was 1 patient in OASIS-1 who also had  
10 bacteremia. It was a mono-equivalent infection  
11 with MSSA, and day 1 and day 2 cultures were  
12 positive. The patient received ceftriaxone and  
13 vancomycin starting on day 3.

14 So if we can bring slide number 1 up, the  
15 slide shows the overall ECR responses by patients  
16 who were bacteremic and not bacteremic. If you  
17 concentrate on the middle portion of the graph, you  
18 can see there were only 11 patients in the  
19 omadacycline treatment group in OASIS-1 that were  
20 bacteremic, 7 of which were clinical successes.  
21 And in the linezolid arm, you had 8 of 9 who were  
22 successes at ECR.

1           If you bring up the PTE responses now,  
2       slide 2 up, you can see at PTE, we had 9 of 11 or  
3       81 percent that were successes, and all of the  
4       linezolid, 9 out of 9, were successes at PTE  
5       assessment.

6           DR. BADEN:   Which organisms?

7           DR. McGOVERN:  It was predominantly  
8       Staph aureus.

9           DR. BADEN:  Dr. Swenson, a follow-on?

10          DR. SWENSON:  I'm sorry.  It's not a  
11       follow-on.  I don't know.  How should we let you  
12       know whether it's a follow-on?

13          DR. BADEN:  What you just did.  If you have  
14       a regular question, you can just get our attention.

15          Anyone else have a follow-on?  Dr. Honegger?

16          DR. HONEGGER:  A question about  
17       duration -- can you give duration of bacteremia  
18       time to clearance with study drug versus the  
19       comparators in both skin and pneumonia infections?

20          MR. TZANIS:  Dr. McGovern?

21          DR. McGOVERN:  So we only had the 2 failures  
22       as I mentioned earlier with the Staph aureus

1 bacteremia. We'll have to actually look at the  
2 data and get you the time to bacteremic clearance.

3           However, I will point out, in many cases  
4 where the baseline blood cultures were positive,  
5 not all patients had follow-up blood cultures done.  
6 We'll get that data.

7           DR. BADEN: You can prepare that data, and  
8 after the break, we can look at it in the second  
9 half of the morning.

10           MR. TZANIS: So specifically just to make  
11 sure, we just want to see the time to clearance of  
12 the bacteremia?

13           DR. BADEN: It would be useful to see the  
14 organisms to see which ones were failures and then  
15 time to clearance.

16           MR. TZANIS: Very good.

17           DR. BADEN: Dr. Gripshover? Microphone.

18           DR. GRIPSHOVER: And duration of treatment  
19 as well? Because another question I had is that  
20 you have a flexible treatment period of 7 to  
21 14 days.

22           DR. BADEN: The duration of treatment and

1 then understanding the two failures.

2 MR. TZANIS: Absolutely. We'll get that at  
3 the break.

4 DR. BADEN: Thank you.

5 Another follow-on? Dr. Floyd?

6 DR. FLOYD: This is about the cure rates in  
7 the bacteremia patients. I've reviewed this in the  
8 briefing materials from the sponsor and from the  
9 FDA, and there were discrepancies. And I could not  
10 understand what accounted for the differences.

11 So if either the sponsor or the FDA would  
12 care to explain why there are discrepancies in the  
13 cure rates and which numbers to refer to you, that  
14 would be helpful.

15 DR. BADEN: I think it is best to address  
16 that after the agency's presentation, and then we  
17 can have any synthesis of data. And we'll revisit  
18 this with the sponsor, clarifying agency's  
19 presentation, and then we'll make sure that that  
20 query is fully resolved.

21 Next is Dr. Lo Re.

22 DR. LO RE: In terms of trying to better

1 understand the mortality outcomes from the OPTIC  
2 study, can you provide for the OPTIC study for  
3 community-acquired bacterial pneumonia the ECR and  
4 PTE results stratified by use of short-acting  
5 anti-bacterial bacteremia, which you said there was  
6 3.9 to 4.6 percent, and the type of bacteremia, and  
7 the presence of baseline diabetes?

8 MR. TZANIS: So we actually have the data  
9 for you that shows you received a prior antibiotic  
10 use, not specifically by bacteremia. Dr. Das can  
11 review that data with you.

12 DR. DAS: Can I have slide 1 up, please?  
13 This slide shows you the outcome rates at ECR for  
14 patients with and without prior anti-bacterials. As  
15 you can see in the patients with anti-bacterials,  
16 the outcome rates are 88 percent and 82 percent  
17 versus 79 percent and 83 percent in those patients  
18 with no prior anti-bacterials.

19 If we now look at PTE for these same  
20 patients -- slide 2 up, please -- slide 2, what we  
21 show for this, again, is PTE. And we have very  
22 high and similar between the two treatment groups,

1 both for receipt of prior and no prior anti-  
2 bacterial rates above 85 percent in both treatment  
3 groups.

4 I can also provide you the data for diabetic  
5 patients. Slide 3, please. This shows ECR in the  
6 diabetic and the non-diabetic patients. And then  
7 the small subgroup of patients with diabetes, there  
8 is a numerical difference, 76 percent versus about  
9 86 percent, and similar in the non-diabetic  
10 patients at 82 percent.

11 But when we go to the PTE outcome for these  
12 patients, which would be slide 1 up, please, the  
13 treatment difference is well within the expected  
14 variability at this time point, with diabetic  
15 patients having about an 86 percent success rate in  
16 the omadacycline and 87 in moxifloxacin, and again  
17 very high outcome rates in the non-diabetic group.

18 MR. TZANIS: We don't specifically have the  
19 data with the short-acting antibiotics for the  
20 bacteremic data.

21 DR. LO RE: Do you have the PTE and ECR  
22 results by bacteremic status?

1 MR. TZANIS: We do, yes.

2 DR. LO RE: And the type of bacteremia?

3 DR. DAS: Can I have slide 2, please? The  
4 type of bacteremia -- let me just address that  
5 because this slide does not show the  
6 pathogens -- is overwhelmingly Strep pneumo. There  
7 are 15 and 18 patients with bacteremia; 11 of the  
8 15 and 11 of 18 are Strep pneumo patients.

9 So again, at ECR, we'll see there's 10 of 15  
10 or 67 percent versus an 89 percent outcome rate.  
11 When you get to PTE, a higher outcome rate with 73  
12 versus 83 in this small subgroup of patients

13 DR. BADEN: Use your microphone.

14 DR. M. GREEN: Yes. Hi. Mike Green. Do  
15 you have confidence intervals or statistical  
16 analysis on those differences?

17 DR. DAS: For bacteremia specifically or for  
18 all of them?

19 DR. M. GREEN: For the last slide you just  
20 had up.

21 DR. DAS: For the bacteremia, no. We can  
22 try and get those for you after the break. But

1       what the small --

2               DR. M. GREEN: Thank you.

3               DR. DAS: -- very small numbers, the 15 and  
4       the 18 patients, we would expect the confidence  
5       intervals to be very, very wide.

6               DR. BADEN: Thank you. And it is a  
7       follow-up?

8               DR. EVANS: I think it's a follow-on because  
9       we just asked about the diabetes in those patients.  
10       And in those failures, do we know if there was a  
11       difference -- in the patients who failed due to  
12       what appeared to be progressive lung infection, is  
13       there a difference in underlying chronic lung  
14       disease between groups, or did you identify a  
15       signal for pre-existing chronic lung disease in the  
16       mortality in the treatment arms?

17               MR. TZANIS: So specifically, we'll address  
18       that from the efficacy perspective response rates  
19       as it relates to the history of COPD or asthma, and  
20       then I'll ask Dr. McGovern to address some of the  
21       failure data.

22               DR. DAS: So let me address one of your

1 questions regarding the diabetics. So in the  
2 diabetic patients, most of the reasons for failure  
3 at the early time point are the no improvement in  
4 CABP symptoms or worsening of one CABP symptom.  
5 Only one patient in the omadacycline group and no  
6 patients in the moxifloxacin group received a prior  
7 anti-bacterial.

8 So it's difficult to really say at ECR that  
9 those patients are failing. They haven't improved  
10 with their CABP symptoms, but by the time they get  
11 to PTE, we're seeing a higher outcome rate at PTE  
12 and very similar between treatment groups.

13 We can show you outcomes by COPD and asthma,  
14 but not specifically for the diabetic patients. So  
15 that would be slide 2 up, please. This slide shows  
16 both ECR endpoints as well as the PTE, and on the  
17 right-hand side is the mild to moderate COPD and  
18 asthma patients.

19 Again, at ECR, we have a 76 versus an  
20 83 percent outcome rate. And then when you get to  
21 PTE, the outcome rates are high at 81 percent for  
22 omadacycline and 85 percent for moxifloxacin.

1 DR. BADEN: Dr. Proschan, a follow-on?

2 DR. PROSCHAN: No. Sorry.

3 DR. BADEN: Then we have Dr. Floyd.

4 DR. FLOYD: Yes. This question is about the  
5 effect of the drug on heart rate. I saw in the  
6 sponsor's briefing materials results from, I think,  
7 phase 1 or phase 2 studies. And there was a fairly  
8 potent chronotropic effect compared to I think a  
9 fluoroquinolone, another drug that has some  
10 proarrhythmic effect.

11 Have there been any investigations as to the  
12 mechanism of how this drug increases heart rate or  
13 any explanation for those findings?

14 MR. TZANIS: Absolutely. Dr. Loh can  
15 address the mechanistic explanation for the  
16 increase in heart rate.

17 DR. LOH: Turns out that it's actually  
18 pretty interesting for me as a cardiologist.  
19 During my post doc time, I actually worked at a  
20 muscarinic receptor lab, and that's really the  
21 etiology of what we see.

22 When you talk about chronotropia, it's not a

1 direct chronotropic effect in terms of being a beta  
2 agonist. It's actually related to the fact that  
3 omadacycline actually interacts with acetylcholine  
4 binding to a very specific subtype of muscarinic  
5 receptor, the M2, which actually controls vagal  
6 innervation of the heart. It doesn't interact with  
7 M1, M3, M4, or M5, and that's what you would see  
8 with a pan muscarinic receptor antagonist.

9 So what you actually have is it's kind of a  
10 vagolytic effect, releasing the normal break. So  
11 we actually observed those small changes in heart  
12 rate, anywhere between 10 to 15 beats per minute in  
13 normal healthy volunteers, generally younger  
14 patients with good vagal tone.

15 But when we actually investigated it in the  
16 phase 3 studies, we thought it was important to do  
17 extensive ECG monitoring. So we actually monitored  
18 electrocardiograms centrally with the data,  
19 specifically on day 1 as well as on day 2, within  
20 30 to 90 minutes of the completion of the IV  
21 infusion of omadacycline, to actually notice  
22 whether it was actually Cmax related or not because

1 our data suggested it was Cmax.

2 We actually analyzed that in our phase 3  
3 studies. If we could actually have slide 1 up, you  
4 can actually see the excursions in terms of overall  
5 heart rate from this study. And the first two  
6 sampling dots here are actually the day 1 and the  
7 day 2 sampling, looking at excursion and heart  
8 rate.

9 Actually, the attrition in heart rate was  
10 actually no more than about 5 beats per minute, and  
11 then over time -- it's a parasympathetic and  
12 sympathetic balance here, and over time, guess  
13 what? The sympathetic tone is actually going down  
14 because infections are getting better. You have  
15 less sympathetic drive. We actually saw that the  
16 heart rates are actually coalescing and coming  
17 together over time, and the delta is actually  
18 extremely small.

19 We had no associated clinical adverse events  
20 seen with those heart rate excursions. So we  
21 actually understand this very well.

22 We did also investigate, just for

1       completeness of the answer, beta receptor binding.  
2       We looked at hERG-channel binding and other  
3       receptors as well. We saw no affinity for those  
4       particular receptors.

5               So it seems to be a very specific  
6       interaction between acetylcholine and the M2  
7       receptor antagonist, that omadacycline tends to  
8       actually get in the way of that particular  
9       interaction.

10              DR. BADEN: Thank you.

11              Dr. Calhoun, do you have a follow-on?

12              DR. CALHOUN: I do. I saw that  
13       explanation -- thank you -- of muscarinic  
14       antagonism in the briefing document. So this may  
15       be one of those things that's quite different in  
16       hearts and lungs, but in lungs, the M2 receptor  
17       serves to dampen the cholinergic response. When  
18       the M2 receptor is lost, it's a hypercholinergic  
19       state that occurs in lung.

20              I inferred from the briefing document that  
21       that's not true in heart, that the M2 is an  
22       accentuating receptor; that is, activation of the

1 M2 would be vagolytic?

2 DR. LOH: It doesn't actually bind to the M2  
3 receptor. It has an interaction between  
4 acetylcholine binding to the M2. And so what it  
5 does, it actually -- and that's specifically where  
6 the vagus interacts with the SA node. So what you  
7 have is you have a reflex heart rate increase. And  
8 it actually is dependent upon the intensity of  
9 vagal tone and vagal innervation.

10 The literature tells us that, first of all,  
11 when you're sick and infected, you have a lot of  
12 sympathetic drive, and you have very little vagal  
13 tone. But also, when you look at older patients,  
14 vagal tone actually goes down. That's why, in the  
15 elderly population with hypertension, you tend to  
16 see higher resting heart rates, and beta blockers  
17 tend to be more effective in that elderly  
18 population.

19 DR. BADEN: Thank you. It is now 9:50.  
20 We'll take a 10-minute break.

21 Panel members, please remember that there  
22 should be no discussion of the meeting topic during

1 the break, amongst yourselves, or with any members  
2 of the audience. We will resume at 10:00. Thank  
3 you.

4 (Whereupon, at 9:51 a.m., a recess was  
5 taken.)

6 DR. BADEN: We will now resume, and we'll  
7 proceed with the agency's presentations,  
8 Dr. Toerner. And I apologize to Dr. Loh and  
9 Dr. Toerner earlier for being confused as to who  
10 was speaking previously.

11 Dr. Toerner, thank you for presenting the  
12 agency's evaluation.

13 **FDA Presentation - Joseph Toerner**

14 DR. TOERNER: Thank you, Dr. Baden.

15 Good morning. My name's Joe Toerner. I'm  
16 the deputy director for safety in the Division of  
17 Anti-Infective Products at FDA. And for this  
18 application, I'm the cross-discipline team leader.  
19 I'll go through this morning, the FDA review of  
20 safety and efficacy for the phase 3 development  
21 program that included the community-acquired  
22 bacterial pneumonia trial. And I'll just refer to

1 it as the pneumonia trial and discuss the efficacy  
2 results.

3 Then I'll move to the acute bacterial skin  
4 and skin structure infection trials. I'll just  
5 refer to them as the skin trials and their efficacy  
6 results, and then end by summarizing the safety of  
7 the phase 3 development program.

8 So first let's talk about the pneumonia  
9 trial. The applicant went through this in very  
10 nice detail, and I won't dwell on this except to  
11 emphasize that the trial was a double-dummy trial  
12 design such that patients randomized to  
13 omadacycline was giving a loading dose of  
14 omadacycline, but there was a corresponding placebo  
15 to account for the loading dose in the moxifloxacin  
16 treatment group.

17 The design principles were consistent with  
18 our current draft guidance for treatment of  
19 community-acquired bacterial pneumonia such that  
20 the inclusion and exclusion criteria were standard  
21 for any trial design that we would expect to see  
22 for this indication, and that it generally enriched

1 for a sicker population by not including patients  
2 with PORT risk class I.

3 The exclusion criteria were standard for  
4 this type of trial in pneumonia, including  
5 generally excluding patients who received effective  
6 anti-bacterial drug treatment with an  
7 exception -- and this is described well in our  
8 guidance document -- that we do allow up to  
9 25 percent of study subjects to have received a  
10 single dose of a short-acting anti-bacterial drug  
11 treatment for the current episode of pneumonia, for  
12 which the patient is being evaluated for clinical  
13 trial entry.

14 In this trial, it was further enriched for a  
15 patient population that was more seriously ill by  
16 limiting the PORT risk class II subjects to not  
17 more than 15 percent, so that most patients were  
18 PORT III or PORT IV that were enrolled into this  
19 trial.

20 The primary efficacy endpoint is described  
21 in our guidance document as the early clinical  
22 response, which is symptom improvement in at least

1 2 cardinal symptoms at day 3 to 5 of therapy.

2 Before I go into our review of the results,  
3 I wanted to highlight some important differences in  
4 today's presentation that differs from even our  
5 briefing document and also differs from the  
6 applicant's presentation.

7 In terms of PORT scores, our presentation  
8 today is using the PORT scores that were originally  
9 ascertained by the investigator for purposes of  
10 randomization. In our briefing document, we had  
11 included the actual PORT scores, but we became  
12 concerned that some of the information that was  
13 used to recalculate an actual PORT score came from  
14 post-randomization information, and so we went with  
15 the originally ascertained PORT score.

16 We conducted analyses between the two, and  
17 there were very minor differences between the  
18 originally ascertained scores and the actual PORT  
19 scores.

20 In our briefing document, we described the  
21 subgroup of patients with CURB-65 scores, which is  
22 another pneumonia severity scoring system.

1       However, we will not be providing those results  
2       today. The applicant had informed us of some unit  
3       measurement concerns with the original PORT  
4       scoring, and we decided to exclude from our  
5       presentation today the CURB-65 scoring information  
6       and to emphasize that the PORT scores was  
7       prespecified in the protocol. The CURB-65 scores  
8       were not prespecified.

9               Finally, for our discussion on the all-cause  
10       mortality issue, we considered all-cause mortality  
11       up to study day 30, and we do acknowledge there was  
12       one additional moxifloxacin-treated patient who  
13       died at day 71 but was not included in our  
14       mortality analysis.

15               So the baseline demographics were well  
16       balanced between the treatment groups, and this  
17       patient population, nearly half, were greater than  
18       or equal to 65 years of age. They were well  
19       balanced. And, again, most patients were in the  
20       PORT risk class III or IV. And again, these are  
21       the originally ascertained. There was very little  
22       difference with the actual PORT scores as well.

1           We did have a discrepancy in our briefing  
2 document in the description of the region, and we  
3 neglected to include a couple of extra sites from  
4 Western Europe. But the main point that we wanted  
5 to make is that this was a trial largely conducted  
6 in countries located in the eastern part of Europe.

7           The baseline demographics were well balanced  
8 in terms of prior anti-bacterial drug therapy, and  
9 indeed not more than 25 percent had received a  
10 prior dose of an effective anti-bacterial drug.  
11 The trial was well balanced in terms of baseline  
12 disease severity and comorbidities in this patient  
13 population.

14           In the microbiologically confirmed  
15 intent-to-treat population, this did represent  
16 approximately half of the enrolled patients in the  
17 study. Not only did this subgroup represent  
18 culture from a respiratory pathogen or from blood,  
19 this also represented non-culture techniques of  
20 urinary antigen testing and serology for the  
21 atypical pathogens.

22           I wanted to point out that there were some

1 numerical differences with a somewhat higher number  
2 of omadacycline-treated patients having evidence at  
3 baseline of *Streptococcus pneumoniae* and  
4 *Haemophilus influenzae*.

5 Now I'll move on to our review of the  
6 efficacy results. For the primary efficacy  
7 endpoint, we are in agreement with the applicant  
8 that 81 percent achieved a successful clinical  
9 response at the early clinical response in the  
10 omadacycline-treated patients and approximately  
11 83 percent in the moxifloxacin-treated patients,  
12 for a difference of just under 2 percent. And the  
13 lower bound of the two-sided 95 percent confidence  
14 interval of 7.1 percent was well within the  
15 prespecified noninferiority margin of 10 percent.

16 A key secondary endpoint is to look at  
17 information after the patient has completed therapy  
18 and for a period of observation after completion of  
19 therapy. This assessment was prespecified and  
20 represents patients that are a success at the end  
21 of treatment as well as being a success at the  
22 post-therapy evaluation of 5 to 10 days following

1 the last dose. And the treatment effect was  
2 sustained at this later endpoint with similar  
3 proportion between treatment groups achieving a  
4 clinical success at the PTE evaluation.

5 I'll move on to our review of sensitivity  
6 and subgroup analyses. And I wanted to emphasize  
7 that all of our sensitivity and subgroup analyses  
8 focused only on the early clinical response  
9 endpoint.

10 We first became aware that some of the  
11 patients who died were ascertained as being a  
12 treatment success at the early clinical response  
13 endpoint and then subsequently died while being  
14 followed in the study. In a sensitivity analysis,  
15 we excluded those deaths from the treatment  
16 success. There were 4 such patients in the  
17 omadacycline treatment group and 2 patients in the  
18 moxifloxacin treatment group who were considered  
19 successes but who subsequently died.

20 In this analysis, there was no substantive  
21 difference in the success rates with a lower bound  
22 of the two-sided 95 percent confidence interval of

1 7.7 percent, which is again well within the  
2 noninferiority margin.

3 So we looked at several subgroup analyses of  
4 efficacy, again, at the early clinical response  
5 endpoint. An important subgroup are patients who  
6 did not receive any prior anti-bacterial drug  
7 therapy. This represents a treatment group that  
8 only received study drug. And here, 79 percent of  
9 omadacycline-treated patients were a clinical  
10 success and 83 percent of moxifloxacin-treated  
11 patients were a clinical success.

12 We then looked at PORT risk class at  
13 baseline, and we found, for the PORT risk class IV,  
14 there was a 76 percent success rate in the  
15 omadacycline-treated patients in this subgroup and  
16 an 80 percent success rate of moxifloxacin-treated  
17 patients in this subgroup.

18 In the subgroup of patients greater than or  
19 equal to 65 years of age, 76 percent of  
20 omadacycline-treated patients achieved clinical  
21 success and 79 percent of moxifloxacin-treated  
22 patients achieved clinical success.

1           We then looked at patients with chronic lung  
2 diseases at baseline, patients with diabetes, and  
3 in these two subgroups, there was a numerically  
4 lower response rate in the omadacycline-treated  
5 patients in comparison to the moxifloxacin-treated  
6 patients.

7           There were very few subjects with bacteremia  
8 in this study, and here we describe this very small  
9 subgroup with a 67 percent success rate in  
10 omadacycline-treated patients and an 89 percent  
11 success rate in moxifloxacin-treated patients. And  
12 the bacteremia was largely *Streptococcus pneumoniae*  
13 bacteremia.

14           In the subgroup of patients who fell within  
15 the microbiologically confirmed intent-to-treat  
16 population, there was an 80 percent clinical  
17 success rate in omadacycline-treated patients and  
18 an 86 percent success rate in moxifloxacin-treated  
19 patients.

20           Again, this subgroup included patients who  
21 not only had a positive culture result from sputum  
22 or from blood, but also included non-culture

1 methods, including the serology for the atypical  
2 bacterial pathogens.

3 In our briefing materials, we described the  
4 subgroup by baseline pathogen. And we came to  
5 realize that, in this subgroup analysis, there were  
6 multiple pathogens that could have been identified  
7 from the patient, not just a single pathogen.

8 In our briefing materials, we do describe,  
9 for example, the subgroup with Streptococcus  
10 pneumoniae in omadacycline-treated patients had a  
11 79 percent success rate and an 88 percent success  
12 rate in the moxifloxacin-treated group. But  
13 looking at the mono pathogen where only  
14 Strep pneumo was identified, it didn't change the  
15 clinical success rates in these subgroup analyses.

16 For Haemophilus influenza, we noted that  
17 approximately 69 percent of omadacycline-treated  
18 patients had a treatment success at baseline and  
19 87.5 percent of moxifloxacin-treated patients had  
20 clinical success at this baseline visit. But  
21 pulling out only those patients who had a  
22 monomicrobial infection, the treatment difference

1 didn't appear as large, with approximately  
2 78 percent of omadacycline-treated patients having  
3 a treatment success, and the treatment success was  
4 similar in the moxifloxacin treatment group.

5 This table also showed -- this was a  
6 description of the positive culture at sputum or  
7 blood. This excluded patients with a serologic  
8 outcome measure. And here, we described the  
9 results for the very small subgroup that had  
10 Staphylococcus aureus identified on culture or  
11 Klebsiella pneumoniae identified on culture.

12 So our conclusions of efficacy for  
13 pneumonia, that omadacycline was noninferior to  
14 moxifloxacin at the early clinical response  
15 endpoint, and the response was sustained through  
16 later time points such as the post-therapy  
17 evaluation.

18 Our subgroup analyses of patients with  
19 baseline scoring of PORT IV at age greater than  
20 65 years in patients with chronic diseases, such as  
21 diabetes or chronic lung disease, and in the  
22 patient population of microbiologically confirmed

1 intent-to-treat, showed numerically lower response  
2 rates at the early clinical response in  
3 omadacycline-treated patients. But looking at  
4 these subgroup analyses, there are limitations that  
5 prevent any strong conclusions.

6 These are all post hoc analyses. There are  
7 small sample sizes in the subgroups. And in terms  
8 of the microbiologic intent-to-treat data, there is  
9 confounding by polymicrobial mixed infections in  
10 this subgroup.

11 Now I'll move on to the mortality imbalance  
12 that was observed in the pneumonia trial. There  
13 were 8 deaths in the omadacycline-treated patients  
14 and 3 deaths in the moxifloxacin-treated patients.  
15 And again, we acknowledge the 1 death that occurred  
16 at day 71 in the moxifloxacin-treated patient that  
17 was due to metastatic pancreatic cancer.

18 This table is a summary of the mortality by  
19 different subgroups. I just wanted to point out a  
20 couple of things in this table. No deaths occurred  
21 in PORT risk class II, so all of the deaths  
22 occurred in patients who had greater disease

1 severity of PORT risk class III or IV.

2 There was 1 patient who was originally  
3 classified as PORT class II, but for this table, we  
4 reclassified this because baseline characteristics  
5 before randomization clearly showed that this was a  
6 PORT risk class III patient.

7 In terms of baseline bacteremia, there was  
8 1 death in each treatment group. All of the deaths  
9 occurred in patients greater than 65 years of age;  
10 6 out of the 8 deaths in the omadacycline group,  
11 these patients had chronic lung disease at baseline  
12 with chronic obstructive pulmonary disease, asthma,  
13 or chronic bronchitis; 4 of the 8 patients in the  
14 omadacycline group who died had diabetes at  
15 baseline; and 4 of the omadacycline treatment group  
16 deaths had a pathogen identified at baseline and  
17 was part of the microbiologic intent-to-treat  
18 population.

19 We also thought it was worth going through,  
20 in a little bit of detail, each of the deaths. And  
21 the applicant has gone through this, so I'll try to  
22 keep this as brief as possible.

1           Of the 8 omadacycline deaths that were  
2 observed, 3 of them occurred very early in the  
3 course of their treatment for community-acquired  
4 pneumonia. The first patient was admitted and  
5 received a single dose of omadacycline, and shortly  
6 thereafter experienced a decompensation and went to  
7 the intensive care unit, where the patient was  
8 intubated and ultimately died of multi-organ  
9 failure due to septic shock.

10           The second patient, who was a 76-year-old  
11 who was admitted with right lower-lobe pneumonia,  
12 had a relatively uneventful first 24 hours, and  
13 then was found dead in his hospital bed. The  
14 investigator suspected a pulmonary embolism, but an  
15 autopsy was not performed, so the cause of death  
16 was listed as a cardiorespiratory arrest.

17           The third patient was a 66-year-old with  
18 chronic obstructive pulmonary disease who had a  
19 myocardial infarction on his second hospital day  
20 and died of cardiogenic shock.

21           The fourth patient had a known thoracic  
22 aortic aneurysm and was judged to be a clinical

1 success at the early clinical response endpoint,  
2 but had a somewhat deterioration in his clinical  
3 course, but then on study day 9 died of a thoracic  
4 aortic aneurysm rupture. There was some evidence  
5 of worsening pneumonia, but the cause of death was  
6 thoracic aortic aneurysm rupture.

7 The fifth patient had an uneventful course  
8 for treatment of community-acquired pneumonia and  
9 was discharged on hospital day 7. This patient had  
10 a history of chronic obstructive pulmonary disease,  
11 congestive heart failure, and diabetes.

12 The patient was brought to the emergency  
13 department after discharge from the hospital  
14 approximately a week later in a semi-comatose  
15 state, was hypotensive, and was judged by the  
16 clinicians to have a cerebrovascular accident. But  
17 the patient was noted to be hypotensive, and sepsis  
18 or respiratory failure could not be ruled out in  
19 this patient.

20 The sixth patient also had an uneventful  
21 course and was a successful treatment for  
22 community-acquired pneumonia; was a 90-year-old

1 with chronic obstructive pulmonary disease,  
2 congestive heart failure, and diabetes. And this  
3 patient experienced a myocardial infarction and  
4 died of cardiogenic shock after being discharged  
5 from the hospital.

6 The seventh patient was a 74-year-old with a  
7 history of asthma and coronary artery disease who  
8 didn't do well in the first days after being  
9 admitted to the hospital and was intubated and sent  
10 to the intensive care unit on study day 2, where  
11 this patient experienced a stormy hospital course  
12 and ultimately died on study day 25 of acute  
13 respiratory failure.

14 The eighth patient was an 86-year-old who  
15 had a history of atherosclerosis and had an  
16 uneventful course for treatment of pneumonia and  
17 was discharged on hospital day 12. This patient  
18 came back approximately a week later with a new  
19 right lower-lobe pneumonia. A culture obtained  
20 upon the readmission showed  
21 *Acinetobacter baumannii*, so this patient clearly  
22 had hospital-acquired bacterial pneumonia and

1 subsequently developed adult respiratory distress  
2 syndrome and died on study day 30.

3 I put an asterisk by three of the patients  
4 that we feel study drug administration was highly  
5 unlikely to be causally related to the death.

6 Moving on to the 3 patients in the  
7 moxifloxacin group who died, there were no deaths  
8 that occurred early in study therapy in contrast to  
9 the omadacycline group. The first patient was an  
10 85-year-old who had a history of atrial  
11 fibrillation and had a gradual downhill course for  
12 pneumonia, and was sent to the intensive care unit  
13 on day 5. The patient subsequently died of acute  
14 respiratory failure and worsening pneumonia on  
15 study day 9.

16 The second patient was an 83-year-old who  
17 was judged to be a success at the early clinical  
18 response endpoint, but then had a gradual decline  
19 in his clinical condition. And as part of a workup  
20 had an electrocardiogram obtained that showed  
21 evidence of a recent myocardial infarction likely  
22 to have occurred during the hospitalization. And

1 this patient died of cardiac failure on study day  
2 9.

3 The third patient was an 82-year-old with a  
4 history of chronic obstructive pulmonary disease  
5 and coronary artery disease who, at the end of  
6 treatment, had a repeat chest x-ray and, his  
7 low-bar pneumonia had cleared sufficiently enough  
8 to show a lung mass on chest x-ray.

9 He remained hospitalized, receiving a workup  
10 for the lung mass when he was found dead in his  
11 hospital bed on study day 20. An autopsy was  
12 performed because the death was relatively  
13 unexpected, but an autopsy was found to have widely  
14 metastatic cancer as the cause of death.

15 So this summarizes our review of the  
16 efficacy results from the community-acquired  
17 bacterial pneumonia trial. Omadacycline was  
18 noninferior to moxifloxacin for the primary  
19 endpoint of early clinical response, and the  
20 response was sustained at the post-treatment  
21 evaluation visit.

22 Our subgroup analyses of patients with

1 baseline PORT scoring of IV, age greater than or  
2 equal to 65 years, and the subgroup with diabetes,  
3 and the subgroup with chronic lung disease, and the  
4 subgroup with microbiologically confirmed  
5 infections in the ITT populations showed a  
6 numerically lower response rate in the early  
7 clinical response endpoint in omadacycline-treated  
8 patients.

9           However, as I mentioned previously, there  
10 are limitations to these analyses that are all  
11 post hoc. The sample sizes are very small, and in  
12 terms of the microbiologic ITT population that we  
13 described, it's with mixed polymicrobial infections  
14 that it's hard to draw any strong conclusions.

15           So all the mortality cases occurred in  
16 patients who had greater disease severity at  
17 baseline with PORT risk class III or IV, and age  
18 greater than 65 years, and most if not all had  
19 evidence of having chronic comorbid diseases at  
20 baseline.

21           I'll move on to talk about the skin trials  
22 and the efficacy results. The applicant had gone

1 through these in great detail, so I won't dwell on  
2 this except to emphasize, again, it was a double-  
3 dummy design to account for the loading dose in  
4 omadacycline-treated patients, as well to emphasize  
5 that the second study of oral therapy was conducted  
6 in the United States.

7 The entry criteria is, again, standard and  
8 well described in our guidance document for acute  
9 bacterial skin and skin structure infections, where  
10 we ask that patients have a somewhat large evidence  
11 of a skin infection with cellulitis, major  
12 abscesses, or wound infection to help ascertain,  
13 then, the evaluation at early clinical response,  
14 which is an endpoint, a primary efficacy endpoint,  
15 early that the lesion size should be reduced by at  
16 least 20 percent.

17 Similar to the pneumonia trial, patients  
18 were followed to the end of treatment and a period  
19 of observation after completion of treatment for a  
20 post-therapy evaluation.

21 Here again, we're in agreement with the  
22 applicant's efficacy results in which, for both

1 trials, a finding of noninferiority to linezolid  
2 was established with a lower bound of the two-sided  
3 95 percent confidence intervals of negative  
4 6.3 percent and negative 0.2 percent. They're both  
5 well with the prespecified noninferiority margin of  
6 10 percent.

7 The key secondary endpoint was the  
8 investigator's assessment of clinical response to  
9 the post-treatment evaluation. And here, too,  
10 we're in agreement with the applicant's analysis.  
11 There were high rates of success in both studies in  
12 both treatment groups, and there were no  
13 substantive differences between the treatment  
14 groups.

15 There was one death observed in  
16 omadacycline-treated patients as a 30-day all-cause  
17 mortality assessment. And the death that occurred  
18 in the omadacycline group was a drug overdose.

19 We concluded for acute bacterial skin and  
20 skin structure infections, the two phase 3 trials  
21 provided evidence that omadacycline has efficacy  
22 for the treatment of acute bacterial skin and skin

1 structure infection. The results showed  
2 noninferiority to linezolid.

3 We also looked at a number of subgroup  
4 analyses, but we couldn't find any concerns with  
5 regards to efficacy and evaluation of any of these  
6 subgroup analyses.

7 Now I'll summarize safety in the phase 3  
8 development program. I wanted to remind you that  
9 the dose was the same for both the pneumonia trial  
10 and the skin trial. We have just over a thousand  
11 patients with the same dose and duration of  
12 treatment that comprised the phase 3 safety  
13 population.

14 A large proportion of patients completed  
15 study treatment. In fact, a somewhat higher  
16 proportion in omadacycline treatment groups  
17 completed study treatment. And conversely, a  
18 somewhat lower proportion had prematurely  
19 discontinued from study treatment in the  
20 omadacycline treatment arms.

21 Here, I have listed the reasons for  
22 premature discontinuation from study treatment, and

1 no patterns emerged here with the exception of  
2 deaths during study treatment in the pneumonia  
3 trial that we had already talked about.

4 We focused on serious adverse events that  
5 were observed in the skin trials. Serious adverse  
6 events were infrequent in these trials with  
7 infections and infestations being the most common  
8 category of serious adverse events. However, when  
9 we looked at each of these, all of these events  
10 were assessed as treatment failures in the efficacy  
11 analysis.

12 Taking a closer look here, no patterns  
13 emerged in very small numbers in each of these  
14 groups of serious adverse events. Wound infection,  
15 or a new subcutaneous abscess, or development of  
16 sepsis, those were regarded as treatment failures  
17 in the primary efficacy analysis and were recorded  
18 as serious adverse events in the safety database.

19 Similarly, in the pneumonia trial, there  
20 were relatively few serious adverse events and no  
21 patterns emerged in the review of serious adverse  
22 events with the exception of respiratory, thoracic,

1 and mediastinal, as well as infections and  
2 infestations. And similar to the skin trial, most  
3 of the serious adverse events were assessed as  
4 treatment failures in the primary efficacy  
5 analysis.

6 So taking a closer look at these two disease  
7 categories, again, no patterns emerged, and there  
8 were relatively small numbers of characterization  
9 of these serious adverse events. Most of them were  
10 in the respiratory framework. For example,  
11 development of septic shock, worsening pneumonia,  
12 development of pleural effusion, these were all  
13 characterized as failures in the primary efficacy  
14 analysis and were considered serious adverse events  
15 in the safety database.

16 So this is again a summary of all treatment-  
17 emergent adverse events. This table, I believe, is  
18 very similar to what the applicant had presented  
19 with a somewhat higher proportion of subjects  
20 randomized to omadacycline in the skin trials  
21 having any treatment-emergent adverse event, a  
22 somewhat fewer proportion of omadacycline-treated

1 patients having any treatment-emergent adverse  
2 event in omadacycline-treated patients for the  
3 pneumonia trial.

4 We just went through the serious adverse  
5 events and relatively few serious adverse events  
6 and similar in proportion between treatment groups.  
7 We again show the difference in serious adverse  
8 events leading to death, the difference in the  
9 pneumonia trial, and very few patients discontinued  
10 due to a serious adverse event.

11 So for the pooled skin trials, nausea and  
12 vomiting emerged as being more commonly associated  
13 with omadacycline, and this was primarily driven by  
14 the oral trial in which an oral loading dose was  
15 given for patients randomized to omadacycline.

16 Infection site extravasation was also seen  
17 somewhat more commonly in the omadacycline  
18 treatment group. However, the rest of the  
19 treatment-emergent adverse events occurred with  
20 similar proportions between the treatment groups,  
21 and no patterns emerged other than nausea and  
22 vomiting and infusion site extravasation.

1 Treatment-emergent adverse events in the  
2 pneumonia trial were similar between treatment  
3 groups, and no patterns emerged here in the safety  
4 database. A somewhat higher proportion of  
5 moxifloxacin-treated patients experienced nausea in  
6 this safety database.

7 Our conclusions are that omadacycline  
8 overall in safety appeared to be similar to control  
9 groups in the phase 3 development trials. There  
10 were no significant differences in serious adverse  
11 events or adverse events leading to treatment  
12 discontinuations that were observed between the  
13 treatment groups.

14 Nausea and vomiting is associated with oral  
15 omadacycline, and this is largely due to the oral  
16 loading dose for the first day of therapy.  
17 Infusion site reactions, which were mainly  
18 extravasations, were associated with intravenous  
19 omadacycline.

20 In the skin trial of IV to oral switch,  
21 curiously, in the pneumonia trial, it was not  
22 observed as an adverse event. So we're left with

1 the imbalance in mortality at 30 days in the CABP  
2 pneumonia trial, 8 deaths in omadacycline versus  
3 3 deaths in moxifloxacin.

4 But we do note that all deaths in the  
5 pneumonia trial occurred in patients with higher  
6 disease severity, higher PORT scores at baseline,  
7 and occurred in an older patient population greater  
8 than 65 years of age. Most if not all the patients  
9 who died had comorbid diseases such as chronic  
10 obstructive pulmonary disease or asthma, heart  
11 disease, and/or diabetes.

12 I just wanted to acknowledge and thank the  
13 review team for this application.

14 **Clarifying Questions**

15 DR. BADEN: Thank you, Dr. Toerner.

16 We will now move to the clarifying questions  
17 part for the agency and we get our attention. I'll  
18 start with the first question. If we can bring up  
19 slide 22, I found this very helpful.

20 Dr. Toerner, can you help me understand,  
21 what do we expect of background and mortality in  
22 PORT III and IV? Is it that the omadacycline is

1 higher or the moxi is lower?

2 DR. TOERNER: That's a good question. We've  
3 been struggling with that question, too. You know,  
4 it appears that the mortality rates in PORT risk  
5 class III and IV for moxifloxacin-treated patients  
6 seems to be quite a low mortality rate, considering  
7 the disease severity for these patients. The  
8 corresponding mortality in the omadacycline-treated  
9 patients, PORT risk class III and IV, particularly  
10 looking at PORT risk class IV and that subgroup,  
11 mortality rate was just over 5 percent.

12 In an all-comer population with PORT IV, I  
13 might expect to see a higher mortality rate. But  
14 as you know, when patients get enrolled in clinical  
15 trials, they're generally different than an  
16 all-cause population, and we generally see somewhat  
17 lower mortality rates in patients who are entering  
18 a clinical trial and being randomized.

19 DR. BADEN: But typically PORT IV risk is  
20 higher for mortality.

21 DR. TOERNER: That's true, for higher risk  
22 for mortality. That's true.

1 DR. BADEN: Thank you.

2 A follow-on, Dr. Daskalakis?

3 DR. DASKALAKIS: Just a quick follow-up,  
4 which is, do you have a Venn diagram, in effect, of  
5 how many of the 8 who were greater than 65 also  
6 happened to be the higher risk category, either  
7 PORT III or IV? That may be a question for the  
8 company, but do you have a set?

9 DR. TOERNER: Certainly, age is a risk  
10 factor. Greater than or equal to 65 years of age  
11 portends a poor outcome in pneumonia. We don't  
12 have a Venn diagram to describe. Again, all the  
13 deaths occurred in patients with greater disease  
14 severity at baseline.

15 DR. BADEN: Are these follow-ons?  
16 Dr. Proschan?

17 DR. PROSCHAN: Yes. Regarding whether moxi  
18 might have a lower mortality rate, I think also the  
19 sponsor's slide CC-71 is helpful because here you  
20 see, in the comparator, there are 5 moxi arms that  
21 are comparators, and those represent the 5 lowest  
22 comparator event rates. If you look at the moxis,

1 those are the 5 lowest event rates. So it's  
2 possible that moxi has a lower mortality rate than  
3 some of the other drugs.

4 DR. BADEN: I agree. I thought of this  
5 slide as well. What's missing on this slide for me  
6 is the PORT score because I'm not sure the studies  
7 are equal. So looking at the high-risk group  
8 trying to understand what the mortality background  
9 rate should be for PORT 4, not for all studies.

10 DR. PROSCHAN: Right.

11 DR. BADEN: And that was missing in this for  
12 me.

13 DR. PROSCHAN: Right. It is interesting,  
14 though, looking at this, you might say this is  
15 troubling because even though the event rate is in  
16 the middle of the pack for -- the treatment arm is  
17 in the middle of the pack, when you look at the  
18 control, you say, well, wait a second, the control  
19 rate is way over on the left compared to all the  
20 other trials. But then, when you look at the other  
21 moxi trials, you realize that moxi does seem to  
22 have lower mortality rate.

1 DR. BADEN: Other follow-ons? Dr. Weina?

2 DR. WEINA: Yes. I understand what Demetre  
3 was getting to, and I was kind of bothered by it as  
4 well when I was looking at slide 22 from the  
5 agency. You've got these things that are really  
6 independent variables. There are 8 deaths and 5 of  
7 them are PORT class IV, but then 4 of them are  
8 diabetic and 6 of them are COPD.

9 Could all of those have occurred in the same  
10 individual in which you just had somebody with bad  
11 protoplasm and just bad randomization, and you  
12 ended up with the increased deaths.

13 So it'd be nice to see how much of that kind  
14 of converged together because, obviously, it had to  
15 have converged together because just for COPD and  
16 diabetes, you have 10 people. So obviously it  
17 wasn't --

18 DR. M. GREEN: This is just a quick  
19 follow-on with that. All they would have to do is  
20 adjust slide 23 to put the PORT score in.

21 DR. TOERNER: Hi. This is Joe Toerner. We  
22 can certainly look and pull together that

1 information. It's part of the reason why we  
2 included a case summary of all of the deaths in the  
3 briefing information. But we can pull that  
4 together out of the briefing information, the case  
5 summary of the deaths.

6 DR. BADEN: Noting slide 22 and 23, we  
7 really appreciate the detailed information.

8 Dr. Ofotokun?

9 DR. OFOTOKUN: It's just a quick follow-on.  
10 I was just wondering. These studies were conducted  
11 in different parts of the world. Were these deaths  
12 coming from a particular region of the world or  
13 were they uniform across the regions where this  
14 study was conducted?

15 DR. TOERNER: Eighty percent or more of  
16 patients were from countries that were located in  
17 the eastern part of Europe. We didn't look at any  
18 specific location, but we can pull that information  
19 for you as well.

20 DR. BADEN: Another follow-on?

21 Dr. Proschan? No. Dr. Floyd?

22 DR. FLOYD: Related to geographic

1 distribution, I'm curious. Is this distribution  
2 typical of other pneumonia trials or is this  
3 unusual with very few research sites in the United  
4 States but a majority in Eastern Europe?

5 DR. NAMBIAR: Yes. In general, for  
6 anti-infective trials, this is very consistent, not  
7 just for pneumonia. We've seen this across most  
8 indications, that we're able to get very few for  
9 many reasons. And I'm sure the applicant can add  
10 to it, but it's not for lack of trying. I think  
11 they have tried to have some sites in the U.S., but  
12 I think they've had difficulties.

13 So across the board for multiple  
14 indications, this is a pattern we've seen where  
15 most of the sites are from Eastern Europe and you  
16 get a handful of patients from the U.S.

17 DR. BADEN: Just one comment to the  
18 applicant. As you hear our discussion, if you have  
19 clarifying information, please track it, and then  
20 when we come back to further discussion, you'll be  
21 able to provide that information, because the goal  
22 here is to get the best information to the

1 committee.

2 Dr. Proschan, you had a follow-on?

3 DR. PROSCHAN: Yes. I was just wondering,  
4 Dr. Toerner, you mentioned that they were from  
5 Eastern Europe. And I wonder does that concern  
6 you? And the reason I bring that up is because I  
7 do remember situations where data in at least one  
8 trial did look suspicious at an Eastern European  
9 site.

10 Is that the reason you mentioned this?

11 DR. TOERNER: Yes. This is Joe Toerner.  
12 The data are what we have. In our review of this  
13 phase 3 development program and our review of the  
14 pneumonia trial in particular, the fact that there  
15 was a large proportion in countries located in  
16 Eastern Europe didn't alarm us or didn't give us  
17 any reason to pause.

18 DR. BADEN: Dr. Nambiar?

19 DR. NAMBIAR: And as part of our evaluation  
20 of any new drug application, we do conduct study  
21 site audits. And there isn't anything that raised  
22 a concern for us with these applications as well.

1 DR. BADEN: Dr. Farley, did you have a  
2 comment? Dr. Schaenman has a follow-on.

3 DR. SCHAENMAN: While we're talking about  
4 the countries where the study was conducted, I was  
5 wondering if FDA or the sponsor had information  
6 about the racial and ethnic breakdown of study  
7 participants.

8 DR. TOERNER: I think, as expected, most of  
9 the patients were white that participated in this  
10 trial. We have a breakdown, and I'll have that for  
11 you in just a moment.

12 DR. BADEN: The glasses. They're coming for  
13 all of us.

14 (Laughter.)

15 DR. TOERNER: Overall, just over 80 percent  
16 of study participants were white. There were very  
17 few Asian patients enrolled, very few black  
18 patients that were enrolled, less than 2 dozen. So  
19 the vast majority of the study were patients who  
20 were white.

21 DR. BADEN: A follow-on?

22 DR. OFOTOKUN: No.

1 DR. BADEN: Okay. You're on the list, and  
2 we're checking it twice.

3 Dr. Swenson, you have been very patient.

4 DR. SWENSON: Thank you. Erik Swenson.

5 Could we go to slide 18 of the agency's  
6 presentation? It was the discussion of some  
7 subgroup analysis, those patients with just  
8 monoculture infections as opposed to polymicrobial.

9 You've given us the data for just the ECR,  
10 and you've intimated a sort of worrisome trend that  
11 maybe omadacycline is not as good as moxifloxacin  
12 in that polymicrobial group. But did that extend  
13 also to your PTE time point, or by that point, did  
14 we see equivalence?

15 DR. TOERNER: In our analysis, we really  
16 only focused on the early clinical response for the  
17 sensitivity analyses. We did not look at the PTE  
18 from these.

19 DR. SWENSON: Do you think that might be  
20 important? Simply because, obviously, some  
21 antibiotics may work just a little bit better early  
22 on, but ultimately they're going to be equal. And

1 I think we saw some of that, that PTE numbers rose,  
2 and in some cases, there was a slight change in  
3 position vis a vis superiority.

4 Could you look into that data for us?

5 DR. TOERNER: Yes, sure. We'll have that  
6 data for you.

7 DR. BADEN: A follow-on. These data struck  
8 me as well with the H. flu data being a little less  
9 responsive earlier as you noted. Any microbiology  
10 data, the MICs, to omadacycline or tetracycline?  
11 Were there any corollary data that could inform us  
12 as to why this finding may have occurred?

13 DR. TOERNER: I'll start from the beginning.  
14 We really started to look at this application from  
15 the point of view of mortality imbalance, and is  
16 there anything in these data that could help us  
17 explain why 8 deaths were observed in omadacycline-  
18 treated patients and 3 deaths observed in  
19 moxifloxacin-treated patients.

20 So this was sort of the get-go. That's what  
21 we started from when we conducted our analysis, and  
22 that's why we paid so much attention to these

1 subgroups and looked into -- for example, the  
2 microbiologic intent-to-treat population, we were  
3 concerned about that because in the 8 deaths, 3 of  
4 them had evidence of having Haemophilus influenza  
5 at baseline.

6 So it actually started our concern. And  
7 then when we looked at the subgroup and saw  
8 69 percent response rate at ECR in omadacycline-  
9 treated patients and 87 percent success rate in  
10 moxifloxacin-treated patients. So that sparked our  
11 interest in looking in greater detail in the  
12 microbiologic and intent-to-treat population.

13 We also looked at minimum inhibitory  
14 concentrations for the patients. Those data are  
15 not available for all patients who had culture  
16 positivity at baseline, but we do have them for  
17 most patients.

18 Our review of the MIC data showed there was  
19 no correlation with clinical success. There was no  
20 correlation in the 3 of the 8 deaths who had  
21 evidence of Haemophilus influenza infection. There  
22 was no indication that a lack of efficacy played a

1 role in helping to understand the mortality  
2 imbalance between these studies and helping to  
3 understand the lower point estimate of treatment  
4 effect in omadacycline patients in these subgroups.  
5 We could not explain that by the MIC data.

6 DR. BADEN: Thank you. And I'll ask the  
7 applicant, when we get to it later, to respond to  
8 this question as well and be able to show us the  
9 MIC data, including any baseline tetracycline  
10 resistance, so we can be reassured that the  
11 proposed mechanism is playing out as suggested.

12 Dr. Brittain has a follow-on?

13 DR. BRITTAIN: Right. I do want to go to  
14 slide 17, which was the whole micro-ITT. This one  
15 did jump out at me. I wonder, first of all, if you  
16 did a confidence interval for this. And also, just  
17 from my understanding, the patients who don't fall  
18 into the micro ITT, is there any question about  
19 whether they have bacterial infections that  
20 obviously say that they were susceptible to  
21 antibiotics?

22 It seems like this might be the group that

1 if there is a difference in efficacy, it might be  
2 the group that you'd see it in?

3 DR. TOERNER: Yes. Thanks for the question.  
4 We do provide a confidence interval in our briefing  
5 materials, table 15. So the difference between  
6 omadacycline-treated patients and moxifloxacin-  
7 treated patients in this subgroup is minus  
8 5.8 percent, and the bounds of the 95 percent  
9 confidence interval are minus 13.3 and plus 1.8.  
10 So the 95 percent confidence intervals crossed zero  
11 for the difference.

12 DR. BADEN: But it looks like about  
13 50 percent of the time they identified a pathogen,  
14 50 percent of the time they didn't. Is that  
15 unusual in these kinds of studies for community-  
16 acquired bacterial pneumonia?

17 DR. TOERNER: Yes. Joe Toerner again. Just  
18 to add that the opposite was true in the patients  
19 who did not have a pathogen identified. You have  
20 the numerically higher response rate in  
21 omadacycline-treated patients, and it's a concern  
22 in these trials.

1           We do ask the patients -- these types of  
2 investigations make every attempt possible to try  
3 to identify a pathogen. In clinical practice,  
4 anywhere between even less than 10 percent up to  
5 20, 25 percent, you're able to identify a pathogen.  
6 It struck us as quite interesting that almost  
7 50 percent of patients in this study were able to  
8 identify a pathogen, but that included patients who  
9 had a serology for the atypical pathogens.

10           It's really hard to know what that would  
11 mean, but it's not unusual at all to have a 25, 28,  
12 30 percent identification of bacterial pathogen in  
13 the context of a clinical trial be conducted for an  
14 indication of a new antibiotic. We've generally  
15 seen it on 25 to 30 percent, so 50 percent here was  
16 quite unusual.

17           DR. BADEN: Thank you.

18           Dr. Brittain, did you have another line of  
19 questioning? You're on the list.

20           DR. BRITTAIN: It just happened to work out.

21           DR. BADEN: Great. We like to build on  
22 themes. Dr. Proschan?

1 DR. PROSCHAN: So regarding the 8-3 versus  
2 8-4, is it typical for the agency to look within  
3 30 days as opposed to all deaths? To me, it makes  
4 a pretty big difference in my mind, thinking 8-3  
5 versus 8-4, even though the numbers are still small  
6 either way.

7 That's question number 1. And I wonder  
8 if you could just comment on the noninferiority  
9 margin of 10 percent and how that came about. It  
10 seems like it's fairly large.

11 DR. TOERNER: Joe Toerner. So your first  
12 question pertained to the 8 versus 3 and 8 versus  
13 4. We did focus on 30-day mortality. If you look  
14 at our guidance document for hospital-acquired and  
15 ventilator-associated pneumonia, we found a strong  
16 treatment effect on a mortality outcome measure, an  
17 all-cause mortality outcome measure; similar  
18 disease process; obviously a different set of  
19 pathogens and different development trial for that  
20 indication.

21 But there is a very strong treatment effect  
22 on mortality for pneumonia. In the draft guidance

1 document for hospital-acquired and ventilator-  
2 associated pneumonia, we do recommend the 30-day  
3 all-cause mortality endpoint. So in part, that's  
4 why we focused on 30-day mortality here for this  
5 community-acquired pneumonia. But again, you bring  
6 up a good point. We do acknowledge there was a  
7 fourth death in the moxifloxacin treatment group.

8 Dr. Anita Das in her presentation had  
9 mentioned the work of the Biomarkers Consortium,  
10 the Foundation for the National Institutes of  
11 Health. And part of that work was to help identify  
12 an endpoint that could support the noninferiority  
13 trial design for studies in skin and studies in  
14 pneumonia.

15 Part of the work that was done was presented  
16 in an advisory committee meeting, actually, I think  
17 in 2010 or 2011, where we identified data from  
18 evaluations that were conducted in the pre-  
19 antibiotic era, with observational cohorts of  
20 patients that were followed for their pneumonia.  
21 Then similarly, we looked at data just after the  
22 introduction of anti-bacterial drug therapy.

1           These were observational studies that were  
2 done largely in the same sites, the same centers,  
3 and initially observed improvement in mortality.  
4 But moving to a contemporary study, we knew that  
5 mortality rates were much lower than the 30, 40,  
6 60, 80 percent mortality that was observed back  
7 before antibiotic therapy was introduced.

8           So we looked at symptoms that were recorded  
9 in these studies and found evidence of a very large  
10 treatment effect early in therapy. As you go later  
11 in therapy, the symptom improvement looks similar  
12 between placebo and antibacterial -- not even  
13 placebo; between the natural course of pneumonia  
14 and patients treated with an antibiotic in that  
15 middle of the 20th century time period.

16           So what the Biomarkers Consortium did was  
17 look at recently conducted trials and trials that  
18 had looked at daily evaluation of patient symptoms  
19 and were actually able to correlate then in  
20 recently conducted trials that you can observe an  
21 improvement in at least 2 symptoms of the 4  
22 cardinal symptoms of pneumonia.

1           The working group looked at a number of  
2 different improvement in one symptom, improvement  
3 in 3 symptoms, absence of symptoms, looked at all  
4 kinds of ways of approaching this, and found that  
5 improvement in 2 symptoms at least improved by  
6 1 point seemed to be at a good place. Around 60,  
7 70, 80 percent of patients would achieve that in  
8 clinical trials.

9           So that helped us to inform, then, the  
10 primary endpoint and the noninferiority margin.  
11 The treatment effect between day 3 to 5 is about  
12 30, 40 percent. And so we felt confident then  
13 discounting some of that treatment effect.  
14 Actually, our guidance documents describes an  
15 appropriate noninferiority margin of minus  
16 12.5 percent. So the applicant chose the  
17 10 percent NI margin.

18           DR. BADEN: Thank you. Is that a follow-on?  
19 Dr. Floyd?

20           DR. FLOYD: This is related to  
21 Dr. Brittain's question, too, about the micro-ITT  
22 population. I believe the historical evidence for

1 establishing the treatment effect of antibiotics  
2 primarily comes from low-bar pneumonia, principally  
3 pneumococcal. But the micro ITT in contemporary  
4 trials includes chlamydia, mycoplasma, and things  
5 like that.

6 I wonder, could you generate the sensitivity  
7 results for typical bacterial pathogens, things  
8 like Strep pneumo, H. flu, Klebsiella? Is it  
9 possible to generate the results amongst the  
10 typical pathogens?

11 DR. TOERNER: Yes. We have those in our  
12 briefing document. We have here a breakdown of the  
13 subgroup of patients who had an early clinical  
14 response rate in the subcategory of  
15 Streptococcus pneumoniae who that isolated at  
16 baseline. There were 43 patients in the  
17 omadacycline treatment group; 34 of them had a  
18 success for a 79 percent success rate.

19 In moxifloxacin, there were 34 patients who  
20 had Streptococcus pneumoniae identified at baseline  
21 and 30 out of the 34 were judged to be a success at  
22 early clinical response for an 88 percent response

1 rate. So here, there was a point estimate of  
2 approximately 9 percent difference between the  
3 treatment groups and a 95 percent confidence  
4 interval of minus 25 and positive 8.6 percent, so a  
5 wide confidence interval.

6 For Haemophilus influenza, there were 32  
7 patients in omadacycline treatment group who had  
8 Haemophilus influenza identified at baseline; 22 of  
9 those 32 patients had evidence of clinical success  
10 at the early clinical response endpoint for a  
11 69 percent rate of response.

12 There were actually much fewer patients  
13 randomized to moxifloxacin who had  
14 Haemophilus influenza. Only 16 identified at  
15 baseline; 14 of those 16 were judged to be a  
16 success for a success rate of 87.5 percent.

17 So the difference is 18.8 percent as a point  
18 estimate difference, but it's a small subgroup and  
19 the confidence intervals are very wide minus  
20 39.8 percent and plus 8.7 percent.

21 You're also interested in the atypical  
22 pathogens?

1 DR. FLOYD: No. I was interested in the  
2 typical pathogens specifically because those form  
3 the basis for estimating a treatment effect.  
4 That's the basis for the noninferiority trial, so  
5 just what you read, thank you.

6 DR. BADEN: Thank you. There are more  
7 questions for the agency, but we need to move to  
8 the open public hearing part of the meeting, and  
9 then we will come back to the further clarifying  
10 questions.

11 **Open Public Hearing**

12 DR. BADEN: Both the FDA and the public  
13 believe in a transparent process for information  
14 gathering and decision making. To ensure such  
15 transparency at the open public hearing session of  
16 the advisory committee meeting, FDA believes that  
17 it is important to understand the context of an  
18 individual's presentation.

19 For this reason, FDA encourages you, the  
20 open public hearing speaker, at the beginning of  
21 your written or oral statement, to advise the  
22 committee of any financial relationship that you

1 may have with a sponsor, its product, and if known,  
2 its direct competitors. For example, this  
3 financial information may include the sponsor's  
4 payment of your travel, lodging, and other expenses  
5 in connection with your attendance at the meeting.  
6 Likewise, FDA encourages you at the beginning of  
7 your statement to advise the committee if you do  
8 not have any such financial relationships.

9 If you choose not to address this issue of  
10 financial relationships at the beginning of your  
11 statement, it will not preclude you from speaking.

12 The FDA and this committee place great  
13 importance in the open public hearing process. The  
14 insights and comments provided can help the agency  
15 and this committee in their consideration of the  
16 issues before them.

17 That said, in many instances and for many  
18 topics, there will be a variety of opinions. One  
19 of our goals today is for this open public hearing  
20 to be conducted in a fair and open way, where every  
21 participant is listened to carefully, and treated  
22 with dignity, courtesy, and respect. Therefore,

1 please speak only when recognized by the  
2 chairperson. Thank you for your cooperation.

3 Will speaker number 1 please step up to the  
4 podium and introduce yourself? Please state your  
5 name and any organization you are representing for  
6 the record.

7 DR. CHOPRA: Good morning. I'm Dr. Teena  
8 Chopra. I'm an infectious disease physician at  
9 Detroit Medical Center, Wayne State University. I  
10 also practice infection control and antibiotic  
11 stewardship. And I'm a researcher in hospital-  
12 acquired infections, including gram negatives and  
13 C. diff.

14 My disclosures, my travel was supported by  
15 Paratek, but I have no financial interest in the  
16 outcome of this meeting. I wanted to state the  
17 same unmet need that has been previously stated  
18 around antibiotic resistance.

19 We all know that antibiotic resistance is  
20 fast growing and killing millions worldwide. We  
21 are in critical need of more broad spectrum  
22 antibiotics, particularly MRSA and gram-negative

1 infections.

2 As I previously stated, I practice  
3 infectious diseases in Detroit, and this is a place  
4 we see very high-risk patients, including IV drug  
5 users, homeless patients, dialysis patients,  
6 patients coming from nursing homes. And very  
7 often, they'll present with severe infections,  
8 including MRSA, community-acquired pneumonia, skin  
9 to skin structure infections that are very hard to  
10 treat, and we have no antibiotics available to  
11 treat these patients.

12 Above all, many times, we treat them  
13 inpatient, but we don't have oral choices for these  
14 patients because we many times cannot send them on  
15 IV drugs because we cannot give them IV lines at  
16 that point.

17 Additionally, I'm also the director for a  
18 fecal transplant program at my hospital and I see a  
19 lot of C. diff patients that are coming from the  
20 community with severe C. diff infections. So I  
21 think that we need not only more effective  
22 antibiotics, but also more safe antibiotics that

1 don't alter the microbiome and are well tolerated  
2 by patients.

3 We want more antibiotics that don't have  
4 high risk for C. diff. Thank you.

5 DR. BADEN: Thank you. Will speaker  
6 number 2 please step up to the podium and introduce  
7 yourself? Please state your name and any  
8 organization you are representing for the record.

9 MR. BATTLES: Yes. My name is Scott  
10 Battles. I represent the C. Difficile Foundation.  
11 I just want to preface with, Paratek paid for my  
12 travel, but I have no financial interest in this  
13 meeting.

14 I would just like to say good afternoon. As  
15 I said, my name is Scott Battles, vice president of  
16 the C. difficile Foundation, educator of renewable  
17 energy technology. Speaking as a patient advocate  
18 who recognizes the detrimental impact of some  
19 antibiotics, the C. diff Foundation is not only to  
20 share our experiences, but to raise awareness of  
21 the lack of therapeutic options for healthcare  
22 providers to treat patients safely and effectively

1 without causing harm.

2 New antibiotics are needed and ones that  
3 will not promote or be associated with CDI. Over a  
4 decade ago, I suffered a long and drawn-out upper  
5 respiratory infection. I was given amoxicillin  
6 3 times over two months. It was ineffectual.

7 I gave up and hoped that I would slowly get  
8 better. Six months later of the illness, I was  
9 gaunt and looked like walking death. Needless to  
10 say, I felt the same way. I decided to revisit my  
11 primary physician and requested that chest x-rays  
12 be ordered. Despite three previous courses of  
13 antibiotics, the doctor told me it was simply  
14 allergies.

15 I strongly disagreed and was very persistent  
16 that he take a chest x-ray. He came back with an  
17 x-ray in his hand and his head down. He sheepishly  
18 announced I had walking pneumonia. And most  
19 likely, I had it for 6 months. Tetracycline was  
20 then ordered and resolved the illness in a few  
21 days.

22 Subsequently, I developed chronic mild

1 pneumonia. It would be treated with broad-spectrum  
2 antibiotics. The symptoms were often unresolved  
3 and lingered for 3 months or more. Persistent  
4 coughing for months left my lungs inflamed and my  
5 chest sore.

6 I then started to develop severe allergies.  
7 The environmental allergies and subsequent frequent  
8 respiratory infections reached the point of almost  
9 shutting my respiratory system down. Breathing  
10 became a chore and exhausting. Being treated with  
11 repetitive broad-spectrum antibiotics had minimal  
12 therapeutic effect. It was exhausting and it was  
13 frustrating. After a decade, I'm somewhat better.  
14 And what I know is we need new, safe antibiotics to  
15 combat infections and reoccurrences that occur.

16 In terms of safety of antibiotics, as I  
17 said, I'm vice president of the C. Diff Foundation,  
18 and in my lifetime, I've encountered numerous  
19 friends and families that have been diagnosed with  
20 major infections, including C. difficile.

21 One of my coworkers' 7-year-old acquired a  
22 C. difficile infection during an inpatient stay at

1 the hospital. Another coworker caring for her  
2 elderly grandfather was diagnosed with CDI. She  
3 was a mother, wife, and full-time administrator at  
4 my work. She suffered immensely.

5 The symptoms associated with this infection  
6 mandated that she take frequent bathroom breaks.  
7 It eventually caused her to resign and shifted her  
8 home chores and duties to her husband. The loss of  
9 wages placed a severe impact on her family, and it  
10 affects her adversely today. It took 5 rounds of  
11 antibiotics to treat this GI infection, which left  
12 her with many unresolved side effects.

13 Nancy Caralla, the founder of the  
14 foundation, a nurse, two-time survivor of  
15 C. difficile, survivor who witnessed the death of  
16 her father from unresolved major infections,  
17 including CDI and the pain and suffering caused by  
18 two years of infections for herself, envisioned  
19 this organization to help others from enduring this  
20 life-altering journey and loss of lives. One  
21 infection and one lost life is too many.

22 The C. Diff Foundation was brought to

1       fruition on November 1, 2012. The foundation is a  
2       nonprofit dedicated to educating, advocating for  
3       C. difficile treatment, clinical trials,  
4       environmental safety, and support worldwide.

5               The dedicated members around the globe also  
6       educate and advocate for antibiotic awareness and a  
7       need for new antibiotics for tools physicians can  
8       utilize and ones that have a therapeutic value  
9       without adding CDI.

10              CDI is developed from antibiotics prescribed  
11       to treat symptoms and the most common medications  
12       are known to cause this infection are broad-  
13       spectrum antibiotic, including clindamycin,  
14       quinolones, ampicillin, and other broad-spectrum  
15       antibiotics.

16              The majority of calls received by the  
17       foundation on the nationwide hotline are patients  
18       explaining the symptoms and how CDI was acquired  
19       from being prescribed clindamycin by their dentist  
20       for oral infections prior to undergoing oral  
21       surgery.

22              From our experience, it seems that

1 tetracyclines are among the lower-risk antibiotics  
2 associated with CDI. According to an article  
3 recently published in the Clinical Infectious  
4 Diseases, tetracycline antibiotics, specifically  
5 doxycycline, were shown to be associated with lower  
6 risk of Clostridium difficile infection compared  
7 with other antibiotics.

8 My full-time vocation, teaching wind energy  
9 and industrial maintenance, causes me to constantly  
10 preach to my students, but one thing I tell them  
11 frequently is don't use a big hammer when a small  
12 tap will suffice. In my opinion, I've seen the  
13 damage caused by these broad-spectrum antibiotics.  
14 They're a big hammer when a small tap will suffice.  
15 Today, I ask the panel to consider the greater good  
16 of the general public in supporting the right tool  
17 for the job for physicians. Thank you today for  
18 this opportunity to speak.

19 DR. BADEN: Thank you. Will speaker  
20 number 3 please step up to the podium and introduce  
21 yourself? Please state your name and any  
22 organization you are representing for the record.

1 DR. VAN HISE: Hello, everyone. My name is  
2 Nick Van Hise. I am here also on behalf of the  
3 C. Diff Foundation, where I am the committee chair  
4 for the antimicrobial stewardship and C. diff  
5 prevention committee. I am also an infectious  
6 disease pharmacist at Metro Infectious Disease  
7 Consultants, and I run the research portion for  
8 Clostridium difficile and antimicrobial resistance.

9 I would like to disclose that Paratek did  
10 pay for my travel today, but I have no financial  
11 interest in this meeting. And then I am also on  
12 the speaker bureau for Melinta Therapeutics and  
13 Merck.

14 I have spent countless hours in infectious  
15 disease, primarily Clostridium difficile and  
16 antimicrobial resistance. I have done  
17 antimicrobial stewardship now for the last eight  
18 years, and the problems that I see in everyday  
19 world are countless.

20 There is multiple times that I am not able  
21 to discharge a patient a week in combination with  
22 the physicians that I work with because of

1 antimicrobial resistance and the lack of options  
2 available. I would like to give a patient case  
3 just as an example of the issues that we interact  
4 with.

5 This was a patient who was a 60-year-old  
6 male, who did have typical insurance. He had a  
7 diabetic skin soft tissue infection, which would  
8 have met the ABSSSI requirements, and he had an  
9 abscess that was trained. It grew in EBSL and a  
10 vancomycin intermediate Staph aureus.

11 He was placed on telavancin and  
12 ceftolozane/tazobactam for 7 days. After the  
13 abscess was drained, it continued to get better,  
14 although he was not able to be discharged due to  
15 insurance and the lack of availability in the  
16 outpatient setting.

17 He ended up developing recurrent hospital-  
18 acquired C. diff due to being in the hospital for  
19 multiple days along with being on broad-spectrum  
20 antibiotics. His morbidity was dramatically  
21 affected due to all of these different factors.

22 The importance of having oral antibiotics

1 and multiple options for antimicrobial resistance  
2 is extremely important, and I strongly advocate for  
3 companies like Paratek and any other pharmaceutical  
4 company out there to develop new antibiotics,  
5 especially IV and oral, in order to make options  
6 dramatically available for our patients.

7 I thank you for being here today and thank  
8 you.

9 DR. BADEN: Thank you. Will speaker  
10 number 4 please step up to the podium and introduce  
11 yourself? Please state your name and any  
12 organization you are representing for the record.

13 DR. GIORDANO: My name is Philip Giordano.  
14 I have practiced emergency medicine at the Orlando  
15 Regional Medical Center for the last 25 years,  
16 where I'm currently vice chairman. I received  
17 travel expenditures from Paratek today, and I've  
18 done consulting work for them in the past.

19 Having said that, what I wanted to talk  
20 about today is just a very general need for more  
21 antibiotics that treat pneumonia. Many of the  
22 things that I was going to say have already been

1       said, and Dr. Kaye and Dr. Mortensen said it quite  
2       a bit better than I could have. So what I'm going  
3       to do is focus more specifically and very briefly  
4       on the challenges we face in the E.D. with respect  
5       to that.

6                So you might think that emergency  
7       physicians, with the fast pace and chaos of the  
8       E.D., are not really into scoring systems. If you  
9       think that, you'd probably be correct. However,  
10      one exception to that is the PORT score.

11             Ever since the work for the Pneumonia  
12      Outcomes Research Trial came out, emergency  
13      physicians have embraced that. We every day have  
14      to risk-stratify patients and decide who can go  
15      home, who has to stay in the hospital. If this  
16      person goes home, who's going to second-guess me  
17      after the fact? And having such a great scoring  
18      system that really stratifies risk so well for a  
19      particular disease has been very useful for us. So  
20      we definitely use the PORT score.

21             If I'm going to try to speak to what happens  
22      in emergency departments, I have to speak to where

1       there's some consistency.  If you look at PORT Is  
2       and IIs in any emergency department in the country,  
3       those patients tend to go home.  They tend to go  
4       home on oral antibiotics, and the most common  
5       antibiotics used are doxycycline and azithromycin.  
6       Because mortality in PORT Is and IIs is low enough,  
7       we don't see a lot of bad outcomes even though  
8       those drugs are probably ineffective in some of  
9       those patients.

10                If you look at the IVs and Vs in any E.D. in  
11       the country, they obviously get admitted on  
12       multiple IV antibiotics, sometimes to the ICU.  So  
13       it's the PORT IIIs where you have variability in  
14       treatment, and obviously that's the largest group.

15                The more cavalier and those with very high  
16       intestinal fortitude may try to send a PORT III  
17       home on oral antibiotics, clearly looking at the  
18       mortality risk, it's something that was considered.  
19       IIIs can be outpatients if they can be treated  
20       correctly.  But what we have available now makes it  
21       very difficult to do that.  So if you send them  
22       home on doxycycline or azithromycin, you really are

1       risking a resistant bug or a bad outcome.

2               That's actually not a very common practice  
3       right now. What's more common is for patients to  
4       get a dose of ceftriaxone and either doxycycline or  
5       azithromycin and then possibly get sent home on  
6       pills, where really only their first 24 hours is  
7       guaranteed or be admitted to the hospital after  
8       that.

9               But what happens most commonly to those  
10       patients is they get ceftriaxone and azithromycin  
11       and get admitted to the hospital. And you may be  
12       asking about observation and things like that, but  
13       to be honest, at most hospitals, observation is a  
14       status. They end up going to the same inpatient  
15       bed and they just are under observation status.

16               So under the current crisis that we have  
17       with overcrowding in the EDs and trying to increase  
18       our throughput, as a public health crisis right  
19       now, we are looking for appropriate and equal care  
20       options that don't put the patient up in that bed.

21               So I think honestly a pneumonia drug that's  
22       both potent and available in both IV and oral form

1 could be a game changer for us. How do I know  
2 that? Because it was in the past. In the late  
3 '90s and early 2000s, when the respiratory  
4 fluoroquinolones came out, we used levofloxacin and  
5 moxifloxacin to actually send many more people home  
6 than we ever did before. There was definitely a  
7 change in behavior. People would get sent out on  
8 P.O. or they'd get an IV dose and get sent out on  
9 oral.

10 Even the behavior of the community  
11 physicians changed. If the family medicine or  
12 internal medicine doc by physical exam thought they  
13 had a patient with pneumonia, currently they send  
14 that patient to the ED with the thought that if  
15 their chest x-ray shows pneumonia, I already think  
16 they need to be admitted.

17 During the time where they were using a lot  
18 of fluoroquinolones, they actually would just treat  
19 those patients in their office and not send them to  
20 the ED. But what happened there is we obviously  
21 probably used fluoroquinolones a little too much.  
22 We started to see the collateral damage, decreased

1 sensitivities with pseudomonas, and then on top of  
2 that, the C. diff risk.

3 So with large academic medical centers like  
4 where I work, where antimicrobial stewardship is  
5 very strong, we've severely restricted  
6 fluoroquinolone use. So I don't really have that  
7 available to me, appropriately so, so we've gone  
8 back to admitting most of the patients.

9 So I really think that if we could get new  
10 agents again that are available in IV and oral  
11 form, and are potent, and could give us the  
12 confidence to treat people as outpatients, then  
13 we'll start doing that again.

14 So I really appreciated the chance to speak  
15 to the committee. Thank you very much.

16 DR. BADEN: Thank you. Will speaker  
17 number 5 please step up to the podium and introduce  
18 yourself? Please state your name and any  
19 organization you are representing for the record.

20 MS. THERIAULT: Hello. My name is Nicolette  
21 Theriault, and I'm here as a patient advocate and  
22 MRSA survivor. For my disclosures, Paratek paid

1 for my travel, but I have no financial interest in  
2 the outcome of this meeting.

3 As opposed to all of the other people who  
4 have spoken to you before, I don't have a lot of  
5 medical experience per se, but what I would like to  
6 share with you is my experience with recurrent MRSA  
7 infections. Resistance to antibiotics and  
8 prevalence of MRSA is widespread, and research and  
9 data tell one portion of the story, but the part of  
10 the story that is often lost is that of the patient  
11 and how a recurrent MRSA infection can be  
12 debilitating and really affect your life.

13 The beginning of my spring semester as a  
14 student at a university, I was brought to the ER.  
15 I don't remember getting there. I was diagnosed  
16 with MRSA. I had a large infection and very, very,  
17 very large cellulitis spot on my upper left  
18 buttock, which is a very fun place to have that  
19 infection.

20 The infection was cleared out and stuffed,  
21 and I was sent home with antibiotics and  
22 instructions on, "In a couple of days, you take

1 that packing out." They don't tell you how much  
2 that will hurt.

3 Three days later, I was having a follow-up  
4 appointment with a surgeon, and he saw the  
5 infection. He saw the cellulitis was growing and  
6 said, "Okay. Let's take that out. Let's do some  
7 more of that." That was without anesthetic pretty  
8 much, so that was a good time.

9 So I basically had an incision that was  
10 about 7 inches wide and about 2 inches deep, and  
11 gauze was put on that, I was sent home, and I  
12 proceeded to spend the next few weeks cleaning  
13 that, putting new gauze on, tilting to the right a  
14 whole bunch.

15 I returned to college classes. I was also  
16 interning in New York City and having to travel on  
17 a 2-hour train ride both ways a couple times a  
18 week. So on top of making everyday life pretty  
19 uncomfortable, and painful, and irritating, I was  
20 tired. It was tremendously exhausting to be  
21 continuously fighting an infection.

22 Probably about 2 weeks after being in the

1 hospital, the hospital called and said, "We got  
2 your lab results. You in fact have MRSA and the  
3 antibiotics we gave you are not going to work." So  
4 they gave me a new course of antibiotics, which I  
5 believe may have been oxacillin. I'm not sure. It  
6 seemed to be healing up and clear out. And about 6  
7 or 8 weeks later, the infection seemed to have  
8 returned, and I ended up having another surgery.  
9 They at least knocked me out for this one.

10 So I had to start the process all over  
11 again. So that was recurrent infections and  
12 surgical procedures over a course of about  
13 4 months. I did manage to graduate on time,  
14 surprisingly. I probably should have taken a  
15 semester off, but I did not.

16 To follow up with that, about 7 years later,  
17 following shoulder surgery, I also then got a few  
18 suspicious infection sites and proceeded to spend  
19 about 18 months on and off being treated for  
20 additional MRSA infections. This was located more  
21 so on my torso and in my arm pit. And I actually  
22 had two infection sites combined that I could

1       barely put my arm down because it was so painful.

2               The last time I had one of the infection  
3 sites cleaned out was actually the night before my  
4 best friend's wedding, where I was supposed to be  
5 the matron of honor. I did attend the wedding as  
6 the matron of honor with a nice gauze pad under my  
7 arm, which I managed to hide while wearing a  
8 strapless dress.

9               But essentially, what I wanted to share was  
10 how challenging it can be for a patient to continue  
11 leading a normal life while having recurrent  
12 infections, and that if the doctors I had seen had  
13 better options for treatment, ideally it wouldn't  
14 have been a recurrent event to be going back to the  
15 doctor, having an infection site dealt with, and  
16 being on antibiotics again, being on a series of  
17 antibiotics.

18               Up to 5 times in 18 months is pretty  
19 unpleasant. So having antibiotics that are  
20 effective that could make patients' day-to-day  
21 lives better or if they could avoid hospital stays  
22 would really make a difference in the lives of

1 patients who have skin infections and have to deal  
2 with MRSA. Thank you very much for your time.

3 DR. BADEN: Thank you. Will speaker  
4 number 6 please step up to the podium and introduce  
5 yourself? Please state your name and any  
6 organization you are representing for the record.

7 DR. MEHTA: Hi. My name is Seema Mehta.  
8 I'm from Johns Hopkins Hospital. I'm a transplant  
9 and oncology infectious disease physician there. I  
10 must disclose that Paratek paid for my travel, but  
11 I otherwise do not have any financial interest in  
12 the outcome of this meeting.

13 Today, I would like to impress upon you the  
14 dire need for new antibiotic agents in the era of  
15 rising multi-drug-resistant organisms. As an  
16 infectious disease physician, I repeatedly  
17 encounter patient cases where the organism at play  
18 is one that is highly resistant to our available  
19 drugs.

20 These cases often require the use of highly  
21 toxic agents, which may only partially be effective  
22 against the etiologic organism. For example, I had

1 a patient who received a bone marrow transplant.  
2 She subsequently developed vancomycin-resistant  
3 enterococcus bloodstream infection and pneumonia.

4           Unfortunately, the only drug that was  
5 available based upon microbiologic sensitivities to  
6 treat both of these entities is also highly bone  
7 marrow suppressive when given at prolonged  
8 durations.

9           She had not yet engrafted her bone marrow  
10 transplant. In other words, we were waiting for  
11 count recovery. In these scenarios, we often  
12 continue antibiotics until count recovery or  
13 engraftment.

14           As mentioned, the drug available to treat  
15 these infections, her bacteremia and pneumonia, is  
16 marrow suppressive. As a result, she ultimately  
17 did not engraft and she lost her transplant. Her  
18 only life-saving therapy, a bone marrow transplant,  
19 failed at the hands of an agent that is toxic to  
20 the bone marrow. She eventually passed away from  
21 her underlying malignancy because of her failed  
22 bone marrow transplant.

1           If we had an agent to treat her that did not  
2 suppress the bone marrow, she may have survived and  
3 she may be still living with her now 7-year-old  
4 son, enjoying his birthdays, his company, and  
5 watching him grow into someone she could be proud  
6 of.

7           Another example I have is one where I had a  
8 patient who had undergone a liver transplantation.  
9 Several months after transplantation, he presented  
10 with acute rejection of his liver. He was admitted  
11 to the hospital and was being treated for such.

12           Several days into his hospitalization, he  
13 developed fever and a new oxygen requirement. He  
14 was found to have a pneumonia with an extended-  
15 spectrum beta-lactamase-producing *Klebsiella*  
16 *pneumoniae*.

17           He began therapy with the antibiotic of  
18 choice for this infection. However, he  
19 subsequently developed seizures thought to be due  
20 to the lowering of the seizure threshold from the  
21 antibiotic he was receiving. Unfortunately, we had  
22 to change his antibiotics to a different agent.

1       However, with this new agent, he subsequently  
2       developed acute kidney injury and ultimately  
3       required dialysis.

4               He fortunately survived, but has ongoing  
5       dialysis needs. His quality of life is greatly  
6       diminished because of this. If we had a better  
7       agent that was not toxic to the kidneys and did not  
8       lower the seizure threshold, he likely would have  
9       recovered without incident, and his quality of life  
10      thereafter would have been preserved.

11             This illustrates two cases of desperate need  
12      for new, better agents to treat common infections.  
13      Although I only describe two cases, I have  
14      witnessed many deaths due to the lack of antibiotic  
15      therapies to cure multi-drug-resistant infections.

16             In the cases where life is sustained, the  
17      adverse effects of the antibiotic agents used often  
18      portend a greater mortality on top of the mortality  
19      and morbidity of the infection alone. As a result,  
20      the quality of life of the patient suffers and  
21      their remaining days are often spent connected to  
22      dialysis machines, ventilators, and other life-

1       sustaining measures.

2               This is just at the patient level, which in  
3 my opinion is a microcosm of the greater problem at  
4 hand. We are seeing these highly resistant  
5 infections due to a variety of environmental and  
6 societal exposures to antibiotics.

7               Although this public health aspect of the  
8 fight is a separate campaign, the battle I fight on  
9 a daily basis entails one where we are in desperate  
10 need for newer, better, stronger weapons in our  
11 armamentarium. I believe that we as a scientific  
12 community are faced with the moral obligation to  
13 better serve our patients and our community as a  
14 whole. It is my opinion that the bacteria cannot  
15 win this fight. We must maintain an upper hand by  
16 aggressively devising and approving of new drugs  
17 that can be used for common infections caused by  
18 resistant bugs.

19              On many occasions, I have told patients we  
20 are using our big-gun antibiotics to treat your  
21 infection. In cases where they have multi-drug-  
22 resistant organisms causing their infection, I

1 frankly feel like a fraud naming these antibiotics  
2 as big guns, as our big guns are no longer that.  
3 They are now in many cases like bringing a knife to  
4 a gun fight.

5           The need for newer drugs cannot be stressed  
6 enough. I loathe the days where I have to tell the  
7 patients that the drugs we have may not be  
8 sufficient for treating their infection or the  
9 drugs we have can cure their infection, but not  
10 without adverse effects on the quality of their  
11 life. The response I get from the patient is often  
12 that of despair. It mirrors the situation at hand,  
13 a helpless situation with drugs that have outlived  
14 their glory days.

15           In conclusion, I would like to implore the  
16 committee to take the necessary steps to approve of  
17 new agents that can be used to save lives and  
18 simultaneously not have a negative impact on the  
19 quality of the life of these patients.

20           I urge the committee to keep in mind these  
21 two patients as they proceed in reviewing in making  
22 their decision. I have faith that, one day, we

1 will win this fight and that, when we use our big  
2 guns, we are indeed doing exactly that. Thank you  
3 for your time.

4 DR. BADEN: Thank you. Will speaker  
5 number 7 please step up to the podium and introduce  
6 yourself? Please state your name and any  
7 organization you are representing for the record.

8 DR. BRUNTON: Good morning. My name is  
9 Stephen Brunton. I'm a board-certified family  
10 physician and geriatrician. My travel expenses  
11 have been paid by Paratek, but I have no other  
12 financial interests in this meeting and no other  
13 conflicts of interest.

14 I wear many hats. Actually, when you have  
15 this little hair, you have to have as many hats as  
16 you can. And one of those is I practice family  
17 medicine in the Los Angeles area. I have two  
18 practice areas. One is a general suburban  
19 practice. The other is more of an inner city  
20 Hispanic elderly population.

21 There, we really feel we're on the front  
22 lines. We were managing patients that have a

1 multitude of illnesses, particularly in the  
2 Hispanic practice, where we see a lot of diabetes.  
3 And these patients present with other comorbidities  
4 and so are much more susceptible to the infections  
5 we're talking about today, particularly skin  
6 infections and community-acquired pneumonia.

7 I also have the role of executive director  
8 of the primary care respiratory group. We are a  
9 database of about 4,000 different clinicians, and  
10 we're the U.S. affiliate of something called the  
11 International Primary Care Respiratory Group, which  
12 is an international group of primary care  
13 associations and about 14 different European and  
14 Asian countries.

15 One of the things that we have dealt with as  
16 an organization is really looking at the importance  
17 of antibiotic stewardship and also the concern  
18 about the lack of very effective antibiotics. We  
19 sponsor an annual meeting of physician assistants  
20 and nurse practitioners. As part of our needs  
21 assessment, we ask them what are some of the  
22 greatest challenges that they have, and one of

1 those that consistently gets identified is the lack  
2 of new antibiotics and the concern about antibiotic  
3 resistance.

4 I think, as in primary care, we're seeing  
5 this firsthand. And as we heard from our patient  
6 representatives today, we are concerned and  
7 increasingly concerned that we're not going to have  
8 the antibiotics that we need.

9 I've been managing primary care patients for  
10 a long time, and I've seen an evolution of  
11 antibiotics. Actually initially when I started my  
12 practice, all we had was sulfonamides and leaches.  
13 And we've certainly come a long way since there,  
14 but now I think we're seeing, as mentioned, the  
15 antibiotics that were providing answers are now no  
16 longer providing answers and we need new and more  
17 effective antimicrobials. I think part of the  
18 situation is the frustration of managing these  
19 patients, being on the front line, and not having  
20 alternatives.

21 So I thank you for having me here today, and  
22 I encourage support for you to look at how we might

1 effectively provide these antibiotics, both the  
2 oral as well as intravenous for management of these  
3 patients. Thank you.

4 DR. BADEN: Thank you. Will speaker  
5 number 8 please step up to the podium and introduce  
6 yourself? Please state your name and any  
7 organization you are representing for the record.

8 DR. FOX-RAWLINGS: Thank you for the  
9 opportunity to speak today. On behalf of the  
10 National Center for Health Research, I am  
11 Dr. Stephanie Fox-Rawlings. Our center analyzes  
12 scientific and medical data to provide objective  
13 health information to patients, health  
14 professionals, and policy makers. We do not accept  
15 funding from the drug or medical device companies,  
16 so I have no conflicts of interest.

17 We greatly support the efforts to develop  
18 new anti-bacterial drugs that will be effective  
19 against resistant pathogens. However, the data  
20 reviewed by the FDA indicates that omadacycline may  
21 not be as safe and effective as the drug currently  
22 on the market for some specific groups of patients.

1           In the clinical trial, comparing the effects  
2 of the new drug to moxifloxacin to treat pneumonia,  
3 moxifloxacin potentially did not work as well or  
4 perhaps as quickly for older or sicker patients and  
5 patients with conditions like lung disease,  
6 bacteremia, and diabetes.

7           The study used a population with varying  
8 underlying conditions, but because of this there  
9 were small numbers of patients in these important  
10 groups. But these are patients who tend to have  
11 more fragile health, so they especially need safe  
12 and effective treatments.

13           Also in this study, there were more patients  
14 who took the new drug compared to the old one and,  
15 again, these patients with more serious infections,  
16 older patients, and patients with chronic  
17 conditions, were among those that died.

18           As you know, other antibiotics have been  
19 found to have severe systemic effects, including  
20 harming cardiac health, but those serious adverse  
21 effects were not determined until years after they  
22 were widely prescribed. Many patients were

1 seriously harmed as a result.

2 For this reason, the number of deaths needs  
3 to be carefully analyzed and any increased risk  
4 would need to be included in the label if this  
5 product is approved. This is especially true since  
6 this drug is not shown to be superior to its  
7 comparator for patients on average.

8 Two final points that may affect the  
9 generalizability here in the U.S. and were brought  
10 up by the panel, first, analysis of racial  
11 diversity and clinical trials is important because  
12 limited diversity can raise questions about the  
13 generalizability. And finally, there were very few  
14 patients from North America, and differences in  
15 medical practice could affect the safety and  
16 efficacy of use here in the U.S.

17 In summary, this drug is no better than some  
18 other drugs on the market, and it may be less safe  
19 or effective for some patients. It is important  
20 to conduct further studies to know whether these  
21 particular patients should be excluded from the  
22 indication. Thank you.

1 DR. BADEN: Thank you. Will speaker  
2 number 9 please step up to the podium and introduce  
3 yourself? Please state your name and any  
4 organization you are representing for the record.

5 (No response.)

6 **Clarifying Questions (continued)**

7 DR. BADEN: Speaker number 9 is not here.  
8 Then this will conclude the open public hearing  
9 portion of the meeting, and we will no longer take  
10 comments from the audience. The committee will now  
11 turn its attention to address the task at hand, and  
12 we will continue with the clarifying questions that  
13 we were pursuing before.

14 We have two more questions for the agency,  
15 and then we'll turn back to the applicant for  
16 further clarifications. I think Dr. Ofotokun had a  
17 question for the agency.

18 DR. OFOTOKUN: Thank you. Probably my  
19 question can also go to the sponsor of this  
20 product. I'm really intrigued by the fact that  
21 this product, you can start with IV and deescalate  
22 to oral just like you do for the comparators,

1       moxifloxacin or linezolid.

2               When you look at the pharmacokinetic data  
3       that was presented, the oral bioavailability of  
4       this product is about 34, 35 percent as provided by  
5       the sponsor, as compared to what we traditionally  
6       know about the quinolones and linezolid, which is  
7       in the 60 percent to 100 percent level of oral  
8       bioavailability.

9               I was wondering if we have additional PK  
10       data for both the IV and the oral formulation. In  
11       particular, I would be interested in seeing the  
12       trough concentration of this product for both the  
13       IV as well as the oral formulation.

14              The other question I have are two additional  
15       questions, the disposition of the product itself.  
16       What is the route? How is it metabolized in the  
17       body, and how is it cleared? I'm really interested  
18       in that.

19              Then lastly, how much fluid is required to  
20       administer 100 milligrams of this product? Does it  
21       require high fluid overload? So those are some of  
22       the clarifications that I wanted particularly the

1 sponsor to provide. And if the agency has  
2 information, that would be also helpful.

3 DR. TOERNER: Hi. It's Joe Toerner. We  
4 would prefer that the applicant respond, and we can  
5 follow up with any additional information.

6 DR. BADEN: Then if the applicant can add  
7 that to the list. We have one more question for  
8 the agency, and then we'll turn back and go through  
9 this systematically. Dr. Calhoun?

10 DR. CALHOUN: I hope this is a quick  
11 question for the agency. I looked through both  
12 briefing documents, and I didn't note it in either  
13 of the presentations. And that's a question that  
14 has to do with duration of therapy, so the  
15 experimental design was to give a loading dose and  
16 then give at least 7 days total, but that dose  
17 could be continued for up to 14 days.

18 So the question is, were there differences  
19 in the duration of antibiotic treatment between the  
20 comparators and the product at hand? And the  
21 reason this is of some concern to me is that,  
22 presumably, this was a clinical judgment that was

1 made, when antibiotic treatment had been sufficient  
2 to cure the infection or to resolve the pneumonia.

3 So was there a difference in the duration of  
4 treatment between the comparators?

5 DR. TOERNER: Joe Toerner. The protocol had  
6 prespecified criteria by which a patient could be  
7 transitioned from intravenous to oral therapy. So  
8 we were interested in this issue to look at any  
9 potential differences between the treatment groups  
10 because this was a prespecified list of criteria  
11 that each investigator should have followed in the  
12 protocol. It's essentially criteria for clinical  
13 stability.

14 So we did not find any differences between  
15 the treatment groups in terms of the timing of  
16 transitioning from oral to IV therapy.  
17 Approximately 50 percent of the patient population  
18 in both of the treatment groups by study day 3 had  
19 transitioned to oral therapy.

20 In terms of the mean number of days for IV  
21 treatment overall, it was 5 days for omadacycline-  
22 treated patients and 4.4 days for linezolid-treated

1 patients in the skin studies and 5 days in the  
2 pneumonia studies of IV omadacycline and 5.7 days  
3 of IV therapy for moxifloxacin.

4 The mean number of days was 6.4 days for  
5 omadacycline-treated patients, and 6.8 days for  
6 linezolid-treated patients in the skin studies, and  
7 6.4 days for omadacycline-treated patients, and  
8 5.2 days for moxifloxacin-treated patients in the  
9 pneumonia studies.

10 DR. CALHOUN: So the total duration of  
11 treatment was overall no different, not really the  
12 transition point between IV and oral, but the total  
13 duration of treatment was no different.

14 DR. TOERNER: There was no difference in the  
15 total duration of treatment, which was prespecified  
16 to be between 7 to 14 days. And the mean total  
17 duration of exposure in the phase 3 trials was 9  
18 days for omadacycline -- and this was overall in  
19 the phase 3 development, was 9 days for  
20 omadacycline, 8.5 days for linezolid, and 9.6 days  
21 for moxifloxacin.

22 DR. CALHOUN: Thank you.

1 DR. BADEN: Thank you.

2 We will now turn back to the applicant, and  
3 if you can, from the questions that arose  
4 already -- I'm sorry. Dr. Toerner?

5 DR. TOERNER: Yes, Dr. Baden. There were  
6 three outstanding questions that we could provide  
7 some responses to.

8 DR. BADEN: Please.

9 DR. TOERNER: Dr. Daskalakis asked about a  
10 Venn diagram, and we don't have that. But if we  
11 can go back to slide number 23 of our presentation,  
12 I can walk through some of the issues that were  
13 brought up.

14 So patient number 1, patient number 2, and  
15 patient number 4 were characterized at baseline as  
16 PORT risk class III. The rest of the patients were  
17 PORT risk class IV at baseline. And 7 of the 8  
18 patients had evidence of baseline cardiovascular  
19 disease as a comorbid condition.

20 Then 6 of the 8 patients had evidence of  
21 chronic lung disease, but all 6 of those had  
22 concurrent evidence of cardiovascular disease at

1 baseline. And then diabetes accompanied the  
2 chronic lung disease in 3 patients.

3 So if that helps to answer your question.

4 Then Dr. Swenson had a question about the  
5 post-treatment evaluation. The reason we focus on  
6 the early clinical response is that this was a  
7 noninferiority study, and we feel we only have  
8 evidence to support a finding of noninferiority at  
9 the early clinical response endpoint. We have no  
10 evidence to support efficacy at the PTE evaluation  
11 endpoint. So that's the reason why we focused on  
12 the ECR endpoint.

13 As the applicant had presented our findings,  
14 too, as you look at the proposed treatment  
15 evaluation endpoint in each of the subgroups, there  
16 was a much larger proportion of patients who  
17 achieved a clinical success at the PTE endpoint,  
18 and the treatment differences between the groups  
19 became smaller as you look out to that PTE  
20 evaluation. But again, because we can't support a  
21 finding of noninferiority at that endpoint, we  
22 didn't focus on that.

1           Then Dr. Floyd had a question about the  
2 differences between, in particular, bacteremia.  
3 The differences had to do with -- we looked at the  
4 ITT patient population, and the applicant's  
5 analysis looked at the microbiologically evaluable  
6 population. So that may explain some of the  
7 differences between the two.

8           DR. BADEN: Thank you.

9           Now we can turn to the applicant. And if  
10 you can, systematically respond to the issues  
11 raised.

12          MR. TZANIS: Absolutely.

13          DR. BADEN: And as you respond to each  
14 issue, if there are follow-on questions, it's  
15 probably best to address things thematically.

16          MR. TZANIS: First question I have is a  
17 question around bacteremia and the confidence  
18 interval. Dr. Das can review the confidence  
19 interval with you.

20          DR. DAS: Yes. So at ECR, the confidence  
21 interval for the bacteremia -- and let me remind  
22 you there were 15 patients in the omadacycline and

1 18 patients in the moxifloxacin group. The  
2 confidence interval at ECR was minus 50 percent to  
3 7 percent. And at the post-therapy evaluation, the  
4 confidence interval was minus 39 percent to  
5 19 percent; so very wide, given the small numbers  
6 of patients in both treatment groups with  
7 bacteremia.

8 MR. TZANIS: Great. Thank you. Next is a  
9 review of the bacteremia cases specifically, and  
10 Dr. McGovern will review that. We'll start with  
11 the skin, and then we'll switch to the pneumonia  
12 study. Dr. McGovern?

13 DR. McGOVERN: Thank you. First, can I have  
14 slide number 3 up, please? So we went back and we  
15 looked. And unfortunately, there's not enough  
16 post-baseline blood cultures to actually calculate  
17 a duration of bacteremia.

18 However, the success of patients with  
19 bacteremia can be inferred from the overall  
20 clinical success at PTE. And in the OASIS-1 and  
21 OASIS-2 trial, you can see there were 3 patients  
22 that had bacteremia who were failures; 2 were staff

1 aureus and one was a Streptococcus viridans group  
2 organism.

3 All three of those patients were failures.  
4 In 2 of the 3 cases of the failure, the duration of  
5 treatment exposure was only 2 to 3 days. Sorry.  
6 In all three, they were either 2 or 3 days.

7 If we switch over to the OPTIC study for a  
8 second, slide number 3 up, please. There were  
9 4 bacteremia clinical failures. Going from top to  
10 bottom, there was a Klebsiella pneumonia. The  
11 duration of therapy was 2 days. The patient had  
12 amikacin given to the patient as of day 1 and  
13 through the total duration of therapy for that  
14 patient, day 13, so the patient never really had a  
15 real evaluation of the Klebsiella bacteremia.

16 The second patient was a Staphylococcus  
17 aureus, which had 14 days of therapy. The patient  
18 had documented clearance of the MSSA on day 6 and  
19 day 13 and was a clinical success at ECR and EOT.  
20 However, the patient traveled back to their home  
21 city and missed their PTE appointment, so they were  
22 counted as an indeterminate and therefore a

1 failure.

2 The third patient was a Streptococcus  
3 pneumonia that had a duration of therapy of 1 day.  
4 That was the Streptococcal pneumoniae case that  
5 Dr. Loh presented that had 1 dose of omadacycline  
6 and then quickly went into septic shock.

7 The final failure is the patient next to  
8 bottom on the screen had 2 days of omadacycline.  
9 The patient was clinically stable on day 2 and  
10 switched to ceftriaxone therapy on day 3.

11 MR. TZANIS: So staying along the theme with  
12 microbiology and specifically MITs associated with  
13 the cases that resulted in mortality, Dr. McGovern  
14 will review those cases with you, showing you the  
15 microbiology in those patient responses and the  
16 MICs.

17 DR. McGOVERN: Can I have slide 2 up? Of  
18 the 8 cases who died in the OPTIC study, only 4 of  
19 those patients actually had microbiology. The  
20 first case was the septic shock patient who had  
21 Strep pneumonia in the sputum and the blood, had  
22 Haemophilus in the sputum, but the patient most

1 likely died from overwhelming pneumococcal sepsis.

2 OMC3 had an acute myocardial infarction on  
3 day 2, had a Klebsiella and pseudomonas at  
4 baseline. Although the patient did die of the MI  
5 per the description of the investigator, it is of  
6 note that the Pseudomonas aeruginosa that was also  
7 identified at baseline is intrinsically resistant  
8 to omadacycline.

9 OMC4 was the aortic aneurysm rupture that  
10 had a Haemophilus influenza with an MIC of 1. It  
11 was the only one that had a monomicrobial  
12 infection. And as Dr. Loh presented, the symptoms  
13 on day 9 were actually more consistent with an  
14 aneurysm rupture than progression of the pneumonia.

15 Finally, OMC7 had an E. coli as well as  
16 Haemophilus influenza, post-baseline had multiple  
17 organisms identified from blood BAL and respiratory  
18 specimens, including a Proteus mirabilis on day 5  
19 and day 6, which was intrinsically resistant to  
20 omadacycline, but was susceptible to the meropenem  
21 that was given for 10 days as rescue therapy.

22 MR. TZANIS: Next, we'll switch to mono-

1 versus polymicrobial response rates at PTE. So  
2 I'll ask that the data come up, and then we'll go  
3 to the geography response rates.

4 Slide 1 up, please. Here, you'll see the  
5 monomicrobial versus the polymicrobial response  
6 rates for both omadacycline and moxifloxacin. The  
7 top part of the slide actually shows you overall  
8 response rates, which you've seen previously. And  
9 as you can see, between monomicrobial and  
10 polymicrobial, response rates are high and similar  
11 between the treatment groups.

12 In terms of geography response rates,  
13 slide 1 up, please. As you can see, response rates  
14 are similar between omadacycline and moxifloxacin  
15 across the geography, noting that there are some  
16 differences by geography, mainly driven by sample  
17 size within the rest of the world and Western  
18 Europe, and North America was pulled together with  
19 Western Europe. But generally, these data are  
20 similar between the treatment groups.

21 Finally, I'll show you the resistant  
22 pathogen data. Slide 1 up, please. This is

1 specifically from the OPTIC trial, showing you the  
2 overall response rate at the very top of the slide,  
3 the baseline pathogen identified, and the response  
4 rates by the resistant pathogen.

5 As you can see, the tetracycline response  
6 rates are high for both omadacycline and  
7 moxifloxacin. And across the board, you see high  
8 response rates for resistant pathogens.

9 Finally, I think there were some questions  
10 around oral bioavailability and the IV. And first  
11 of all, I'll start with metabolism. So  
12 omadacycline is actually not metabolized. It's  
13 eliminated primarily through biliary elimination  
14 with about 35 percent of it eliminated renally, so  
15 no metabolism whatsoever of omadacycline.

16 In terms of the oral versus the IV, you're  
17 correct. As we presented 35 percent oral  
18 bioavailability of omadacycline, we've demonstrated  
19 exposure equivalence between the IV and the oral,  
20 therefore the dose adjustment between those.

21 To review the PK data around trial  
22 concentration, Dr. Lakota will come up and review

1 that data with you.

2 DR. LAKOTA: Elizabeth Lakota from the  
3 Institute for Clinical Pharmacodynamics, consultant  
4 for Paratek. We developed a very robust population  
5 pharmacokinetic model using over 11,000  
6 omadacycline pharmacokinetic concentrations  
7 collected from over 600 subjects, of which 180 were  
8 from the phase 3 studies.

9 If I could have slide 1 up, please? Here,  
10 we have simulated concentration time profiles, over  
11 5 days, for the IV loading regimen on day 1, so  
12 these subjects received 100 milligrams IVQ12 on day  
13 1 followed by 100 milligrams IVQ24 on day 2.

14 Then they switched to the 300-milligram oral  
15 regimen on day 3. The median profile is shown with  
16 a solid black line in the lower fifth, and 95th  
17 prediction percentiles are shown with the dotted  
18 line.

19 Now, as you can see, the concentrations are  
20 similar following IV administration and oral  
21 administration following the IV to oral switch.

22 MR. TZANIS: Finally, I think there was a

1 question around food effect. At least that's what  
2 my note indicated. Is that correct?

3 DR. BADEN: Is there a follow-on question  
4 from Dr. Daskalakis?

5 MR. TZANIS: I'm sorry. It's 100 mL  
6 infusion with omadacycline.

7 DR. DASKALAKIS: Just a quick question since  
8 we are on pharmacokinetics and dynamics. Any data  
9 on non-white populations? If you do have that,  
10 we'd love to see it?

11 MR. TZANIS: Yes. Obviously, as you saw  
12 from the demography, there's very little diversity  
13 in the clinical trial by virtue of where the trial  
14 was conducted. We have extensively evaluated  
15 omadacycline in phase 1 studies, looking at age,  
16 gender, race, and see no difference from a PK  
17 perspective.

18 DR. BADEN: Any other questions on your  
19 list?

20 MR. TZANIS: I think that completes the list  
21 we have, Dr. Baden.

22 DR. BADEN: Thank you. Then is there a

1 follow-on? We have more questions on the list.

2 DR. CALHOUN: A follow-on.

3 DR. BADEN: Please.

4 DR. CALHOUN: Calhoun. I was pretty  
5 interested in your pharmacokinetic accumulation  
6 data in the briefing document regarding the  
7 accumulation epithelial lining fluid and in  
8 macrophages; so a quick technical question of how  
9 you measured epithelial lining fluid  
10 concentrations.

11 MR. TZANIS: We conducted a bronchoalveolar  
12 lavage study specifically, where we sampled the  
13 cells and the actual EOF. So we spin them out and  
14 actually look at concentrations intracellularly as  
15 well.

16 DR. CALHOUN: So that works for alveolar  
17 macrophages. It's not so good for epithelial  
18 lining fluid. You didn't put pledgets in and pick  
19 up fluid from the airway lining itself then? You  
20 calculated the epithelial lining fluid on the basis  
21 of your BAL concentration? Is that right?

22 MR. TZANIS: That's correct. That's

1 correct.

2 DR. CALHOUN: Do you have evidence that the  
3 drug accumulates in other cells like, for instance,  
4 monocytes or neutrophils? Do you have any PK data  
5 on that?

6 MR. TZANIS: I don't believe we have any PK  
7 data specifically. Obviously, there is some  
8 accumulation within plasma.

9 DR. CALHOUN: Versus plasma.

10 MR. TZANIS: Versus plasma.

11 DR. CALHOUN: Thanks.

12 DR. BADEN: Thank you.

13 Earlier this morning, members of the  
14 committee suggested they had questions. We'll  
15 continue to go down the list. If your question's  
16 been answered, just let us know and we'll move  
17 forward.

18 Dr. Brittain?

19 DR. BRITTAIN: I think my question was  
20 answered.

21 DR. BADEN: Thank you. Dr. Honegger?

22 DR. HONEGGER: Just a follow-up on slide 71

1 in the sponsor's presentation, where they compare  
2 the rates of mortality to other clinical trials. I  
3 assume the regimens where there were IV treatments,  
4 those were similarly ill patients. But I just  
5 wondered if you could comment on which of these  
6 studies had PORT III predominant with some PORT IV  
7 in them. Would you know?

8 MR. TZANIS: Yes. Generally, these studies  
9 that have IV and oral or IV only had very similar  
10 patient profiles relative to PORT risk class  
11 classification. Where there is some differences in  
12 the oral-only arms, there was predominantly almost  
13 more than 50 percent in most of the studies for  
14 PORT risk class IIs in the oral-only studies.

15 DR. BADEN: Dr. Schaenman?

16 DR. SCHAENMAN: I have a question for the  
17 sponsor regarding their slide 54. I was curious to  
18 just observe. I know it didn't quite reach  
19 statistical significance, but for the ATS criteria  
20 greater than or equal to 3 patients, especially for  
21 ECR, it really seemed like there was a trend  
22 towards decreased efficacy. And we see something

1 similar in the high PORT scores, although it's  
2 really more marked with the ATS criteria. We also  
3 saw that perhaps signaled towards decreased  
4 efficacy against Haemophilus influenza.

5 I was just wondering if the sponsor had a  
6 theory as to the potential mechanism for this  
7 observation. Certainly, it could be a chance  
8 association, but if it's real, could there be  
9 something about the pharmacokinetics or  
10 antimicrobial effects that might explain something  
11 such as related to patient characteristics, septic  
12 patients, protein binding, or maybe time to a  
13 therapeutic level, et cetera, that might explain  
14 this difference?

15 MR. TZANIS: From a protein binding  
16 perspective, omadacycline has very low protein  
17 binding, allowing more free drug to get to tissue,  
18 which we actually see as an advantage from that  
19 perspective, allowing more active drug.

20 So we don't think there's a link there at  
21 all. We've done extensive evaluation in trying to  
22 come up with some analysis to determine if there is

1 anything, but I think most importantly, we see high  
2 and similar response rates both in ECR and PTE.

3 Specifically, we talk about the ATS response  
4 rate at greater than or equal to 3. I would ask  
5 Dr. Das to come back up and review that again and  
6 give you a perspective from the sample size.

7 DR. DAS: Yes. So we think that the data  
8 support that omadacycline is efficacious at this  
9 early time point. What we're seeing is, again, in  
10 these subgroups are very small subgroups, so  
11 there's expected to be wide variability.

12 Can you bring up the core slide, please, I  
13 believe, with the stabilization of vital signs and  
14 anti-bacterials?

15 In the core presentation, while we're  
16 waiting for that slide, what we also presented for  
17 those subgroups was other efficacy measures at that  
18 early time point, so the stabilization of vital  
19 signs and the receipt of anti-bacterials. In both  
20 of those analyses, we're seeing very high rates of  
21 stabilization of vital signs as well as very low  
22 rates of anti-bacterial use.

1           Can we show slide 1 up, please? With  
2           respect to the ATS, 1 patient in both treatment  
3           groups received a rescue anti-bacterial. So at  
4           that time point, the investigator believed that the  
5           only 1 patient in each group was not improving.  
6           And then if you look at stabilization of vital  
7           signs, almost 90 percent of patients had stable  
8           vital signs.

9           Finally, if you look at change of cardinal  
10          symptoms, CABP symptoms by day, slide 2 up, this  
11          isn't in the ATS subgroup specifically, but again,  
12          what it shows for each of the cardinal CABP  
13          symptoms is that the patients are improving by  
14          symptom with respect to those 4 symptoms.

15          Then finally, what we see at PTE, again,  
16          once the patient has completed therapy and has been  
17          gone out to the follow-up period, we're seeing very  
18          high and similar outcome rates.

19          Slide 1 up, please.           So this is the slide  
20          again from the core. And you'll see for ATS  
21          specifically that we have high outcome rates at  
22          PTE. So omadacycline is efficacious in these

1 subgroups when you look at the totality of the  
2 data.

3 DR. SCHAEENMAN: The H. flu signal; any  
4 theories about that?

5 MR. TZANIS: So H. flu; we can go to the  
6 core by pathogen response slide. Slide 1 up,  
7 please.

8 As you can see here, from an H. influenza  
9 perspective, omadacycline is effective with greater  
10 than 81 percent response rate at the PTE for  
11 H. flu. And most notably here, you see that's with  
12 twice as many isolates identified for omadacycline  
13 versus moxifloxacin.

14 We achieved sufficient exposures to cover  
15 MICs of H. flu, which also supports the efficacy of  
16 omadacycline against H. flu infections.

17 DR. SCHAEENMAN: But just as a follow-up  
18 question, the FDA had encouraged us to look at the  
19 ECR rather than PTE, and it was in their analysis  
20 that it really seemed more striking. So again, any  
21 in vitro data or other speculation about --

22 MR. TZANIS: No. In vitro microbiology

1 assessments don't show any delayed response.  
2 Again, those are models, but we believe the PTE is  
3 actually most relevant as it relates to the  
4 pathogen data.

5 DR. BADEN: Thank you. We have about 10  
6 more of us who have questions. We have limited  
7 time, but I've also been advised that we shouldn't  
8 vote under pressure. So we need to take a 5-minute  
9 bio break, and I will encourage committee members  
10 to move quickly so we can stay efficient. So we'll  
11 take a 5-minute break.

12 (Whereupon, at 12:08 p.m., a recess was  
13 taken.)

14 DR. BADEN: We shall resume. I thank  
15 everybody for moving quickly during that  
16 unscheduled break. We'll resume with questions for  
17 the applicant and I think, Dr. Daskalakis, if you  
18 still have a question.

19 DR. DASKALAKIS: My question has been  
20 answered.

21 DR. BADEN: Okay. Then, Dr. Baden.

22 (Laughter.)

1 DR. BADEN: The patient that died at day 1,  
2 Dr. Loh, you said that it was not related. Now I  
3 just want to understand why -- how was the  
4 determination made that it couldn't be related to  
5 failure of therapy?

6 MR. TZANIS: I'll actually ask Dr. McGovern  
7 to discuss that. That's specifically the  
8 Strep pneumo septic patient on day 1.

9 DR. BADEN: Yes, who died after 1 dose, and  
10 the comment was made, "Nothing would have saved  
11 this patient." The assertion is that it was  
12 unrelated, so I just want to understand the basis  
13 for that determination.

14 MR. TZANIS: Yes. Dr. McGovern can review  
15 that with you.

16 DR. McGOVERN: So OMC number 1, who had  
17 septic shock, starting on day 1, the patient  
18 actually came in with a PORT score of II or III  
19 depending on how you actually calculate it. One of  
20 the limitations of the PORT score is that sometimes  
21 patients actually can miss some of the threshold  
22 criteria by just a point or two.

1           For example, the pH criteria is less than  
2           7.35. This patient had a pH of 7.36, so missed the  
3           number of threshold criteria and actually had -- if  
4           they measured it probably a few minutes later, they  
5           probably would have been not qualified for the  
6           study.

7           With those things in mind, the patient was  
8           likely more of a IV or V, actually. And after the  
9           first dose of omadacycline, the patient progressed  
10          very rapidly into septic shock. The patient also  
11          had a dose of moxifloxacin during that period of  
12          time when he was deteriorating.

13          So it's our belief or the data suggests  
14          actually that earlier antibiotics might have  
15          altered the outcome, but not necessarily which  
16          antibiotic they received.

17          DR. BADEN: So just on the mortality issue,  
18          because that's been a focus of our discussion, in  
19          my re-looking at the PORT scoring system, PORT IIIs  
20          and IVs, PORT IIIs have a mortality in the 1 to  
21          3 percent range and PORT IV is in the 8 to  
22          12 percent range. Is that correct in the

1 literature?

2 DR. McGOVERN: The PORT IIIs generally range  
3 between about 1 and 3 percent, and the PORT IVs are  
4 generally between 8 and 9 percent roughly.

5 DR. BADEN: Then if I understand your data,  
6 your mortality, just all-comer, without parsing the  
7 way we have, was about 1 percent and 5 percent?

8 DR. McGOVERN: Correct.

9 DR. BADEN: So the mortality seen with  
10 omadacycline was comparable with what would be  
11 expected with those PORT scores, and the mortality  
12 with moxifloxacin appears to be lower than what  
13 would be expected, if I'm reading the data  
14 correctly.

15 DR. McGOVERN: That's correct.

16 DR. BADEN: Thank you for clarifying.

17 Dr. Calhoun?

18 DR. CALHOUN: My question's been answered.

19 Thank you.

20 DR. BADEN: Dr. Swenson?

21 DR. SWENSON: This may be for both the  
22 agency and the applicant. But to the applicant

1 first, the data presented on page 36 of your  
2 briefing document, it's the early clinical response  
3 versus lesion size.

4 You've told us that you didn't think that  
5 there were any important differences with respect  
6 to lesion size, but as I look at this, it appears  
7 to suggest a very small dose response, possibly in  
8 favor of linezolid rather than omadacycline.

9 Could you comment on that? And maybe the  
10 agency does have it, because it does also address  
11 some concern that's been raised about those with  
12 community-acquired pneumonia that are much sicker,  
13 that seem possibly not to do as well.

14 MR. TZANIS: Absolutely. And Dr. Das can  
15 review that data with you. That was table 11 from  
16 the briefing book.

17 DR. DAS: Yes. So you're referring to our  
18 table 11 in the briefing book, which is OASIS-1 and  
19 OASIS-2. And if I could actually have the slide  
20 for OASIS-1, please, if you look at the two studies  
21 separately, there does appear to be a trend in  
22 OASIS-1 with increasing lesion size. Again, the

1 subgroups are very small with respect to the 600 to  
2 greater than 1,000 as well as the greater than  
3 1,000 groups.

4 Slide 3, please, up. So this shows the data  
5 for just OASIS-1. And again, as you mentioned,  
6 there does appear to be an increase in failures  
7 with increase in lesion size.

8 We did similar types of analyses as we did  
9 in the OPTIC study, and one of those was to look  
10 at, again, receipt of anti-bacterial therapy, or  
11 when the physician would feel that the patient was  
12 a true failure at that time point.

13 If we could have slide 2, please. What that  
14 showed is very few patients received a rescue  
15 anti-bacterial; no patients in either treatment  
16 group in the highest area, which is the greater  
17 than 1,000 and 3 versus 1 in the 600 to 1,000.

18 Can we also have slide 2 up? Overall, the  
19 lesion size is decreasing by day as shown in this  
20 slide. And finally, at PTE, the differences  
21 between those treatment groups go away. Slide 1  
22 up, please. So we have high and similar efficacy

1 rates at PTE by lesion size in OASIS-1.

2 In OASIS-2, can we have slide 1 up, please?  
3 The trend that was seen at early clinical response  
4 is not seen in OASIS-2 for ECR. And then slide 2  
5 up, please. And similarly for PTE, we have high  
6 and similar outcome rates by lesion size in  
7 OASIS-2.

8 DR. BADEN: Thank you. Dr. Ofotokun?

9 DR. OFOTOKUN: My questions have been  
10 addressed.

11 DR. BADEN: Dr. Proschan?

12 DR. PROSCHAN: I don't remember having a  
13 question.

14 (Laughter.)

15 DR. BADEN: I appreciate the ability to move  
16 expeditiously. Ms. D'Agostino?

17 MS. D'AGOSTINO: I have just a quick  
18 question about the nausea and vomiting, just  
19 thinking about compliance. Can you just clarify,  
20 did that resolve by day 2 after the loading dose?  
21 And also, you may not have information on this, but  
22 was there any effort made to control that effect?

1 MR. TZANIS: So in fact, the loading dose  
2 completed on day 2 and nausea and vomiting rates  
3 resolved subsequent to that. In terms of patients  
4 tolerating it, patients were able to tolerate  
5 without discontinuation, which I think is most  
6 notably important.

7 Then your question was whether there was an  
8 attempt to mitigate the nausea and vomiting. In  
9 some patients, there was an attempt to use  
10 antiemetic therapy, which didn't seem to have an  
11 impact.

12 DR. BADEN: Dr. Gripshover, you have a  
13 follow-on?

14 DR. GRIPSHOVER: Yes. I just wondered, if  
15 they threw up their loading dose, did you have them  
16 take it again? Because I think it's hard for us to  
17 know what --

18 MR. TZANIS: Absolutely, yes. So the  
19 instruction was, if it was obvious that they had  
20 actually vomited up their loading dose, they were  
21 to administer immediately. Otherwise, if it was  
22 indeterminate, they had to wait 8 hours before the

1 next dose.

2 DR. GRIPSHOVER: So you said the antiemetics  
3 didn't help and they can't take it with food,  
4 either, so there's --

5 DR. BADEN: Microphone.

6 DR. GRIPSHOVER: Sorry.

7 DR. BADEN: No. It was on. Talk in towards  
8 it.

9 DR. GRIPSHOVER: Sorry. Yes. I know they  
10 can't take it with food, and you also said  
11 antiemetics didn't help. Is that right?

12 MR. TZANIS: That's correct.

13 DR. GRIPSHOVER: You don't have any  
14 suggestions then for -

15 MR. TZANIS: No. It appears to be a local  
16 tolerability issue.

17 DR. BADEN: Thank you. Dr. Hennessy?

18 DR. HENNESSY: Thanks. The sponsor is  
19 hypothesizing that the mortality imbalance in the  
20 pneumonia indication is due to chance. I'm  
21 wondering if it would be a good idea to confirm or  
22 refute that hypothesis using observational studies

1 post-approval and, if so, what the plans are to do  
2 that.

3 MR. TZANIS: Yes. We are fully committed to  
4 a post-approval surveillance effort that can  
5 appropriately evaluate patient safety. So we would  
6 absolutely consider that. And these are  
7 discussions that we are just now entering in with  
8 the agency.

9 DR. HENNESSY: There may be members of the  
10 committee who would vote differently depending on  
11 what those plans looked like if you wanted to share  
12 any with us now.

13 MR. TZANIS: Yes. The discussions are  
14 early. They range from a clinical trial  
15 potentially to real-world evidence, observation, or  
16 potential registries; again, very early. We're  
17 just initiating this discussion now.

18 DR. BADEN: But you're committed to doing  
19 follow-on studies --

20 MR. TZANIS: Absolutely.

21 DR. BADEN: -- in conjunction with the  
22 agency.

1 MR. TZANIS: Absolutely.

2 DR. BADEN: We have exhausted the list. Are  
3 there any other clarifying questions from the  
4 committee? Dr. Swenson?

5 DR. SWENSON: I think it was introduced  
6 very, very early that there was a trial to be done  
7 in urinary tract infections. And I wonder if you  
8 could comment on why we didn't see that.  
9 Obviously, you possibly decided to focus down on  
10 two other important areas as well.

11 Then just a point; it was rather  
12 disappointing not to see any methicillin-resistant  
13 Staph aureus data in the community-acquired  
14 pneumonia group. I know it's not the prevalent  
15 pathogen, but I was disappointed we didn't see  
16 anything really.

17 Can you comment on that?

18 MR. TZANIS: Yes. I'll start with the MRSA.  
19 Dr. McGovern can discuss the MRSA rates with you.  
20 It typically is very low. Dr. McGovern?

21 DR. MCGOVERN: So while we're waiting for  
22 the slide to come up. Whether it's MRSA or

1 MSSA -- slide 1 up, please -- Staph aureus is still  
2 an uncommon cause of community-acquired pneumonia.  
3 And in the OPTIC trial, we saw 8 of 11 patients  
4 that were cured at PTE for Staph aureus. In the  
5 omadacycline arm, that was all MSSA, and there was  
6 only 1 subject in the moxifloxacin arm who had an  
7 MRSA pathogen. Thank you.

8 MR. TZANIS: As it relates to UTI, the  
9 dossier that we submitted to the FDA was only for  
10 ABSSSI and pneumonia. We are currently conducting  
11 a phase 2 study in simple cystitis, and we have  
12 plans to initiate an acute pyelonephritis phase 2  
13 study later this year. So those are ongoing  
14 programs not completed or part of the dossier.

15 DR. BADEN: Dr. Brittain, you had a  
16 follow-on? Turn on your microphone and please ask.

17 DR. BRITTAIN: From one question before.

18 Back on the commitment to do a follow-up  
19 study or investigation, I guess I was a little  
20 unclear about the nature of the study. Obviously,  
21 there can't be any randomized comparison, so what  
22 kind of analyses could you -- or what can you do?

1 MR. TZANIS: In fact, there could be a  
2 randomized component to it, prospective  
3 post-approval study. Again, we could absolutely do  
4 that, and that's the types of discussions we'll  
5 have with FDA.

6 DR. BADEN: Yes, and I would encourage  
7 randomized with appropriate comparators where  
8 possible, in addition to registry since there will  
9 be failures in the community that will have to be  
10 understood.

11 Other questions from committee members?  
12 Dr. Floyd?

13 DR. FLOYD: This is a question for you,  
14 actually. I'm wondering if there will be  
15 opportunity for comment and discussion amongst the  
16 panel members before we vote or if you want us to  
17 save our comments for at the end when we vote on  
18 the questions?

19 **Questions to the Committee and Discussion**

20 DR. BADEN: So if there are no more  
21 questions for the agency or applicant, we can then  
22 move to that phase. And I see no more questions.

1           So we will now proceed with the questions to  
2 the committee and panel discussions. I would like  
3 to remind public observers that, while this meeting  
4 is open for the public observation, public  
5 attendees may not participate except at the  
6 specific request of the panel.

7           So what we can do now before we go to the  
8 two questions is, with a discussion amongst panel  
9 members, we should not indicate how we're going to  
10 vote. It's more to understand aspects of the  
11 presentation, leveraging each other's expertise.

12           After we vote, we all can express our  
13 considerations around our votes to help inform the  
14 agency about our thinking and issues for them to  
15 pay attention to going forward.

16           I don't know, Dr. Floyd, if there are issues  
17 for us to discuss as a committee, not indicating  
18 how we intend to vote.

19           DR. FLOYD: It's kind of a follow-up to  
20 points brought up by Dr. Hennessy and Dr. Brittain  
21 about there is this safety signal here, and we're  
22 wondering how do we get more information about it.

1           One suggestion has been raised in terms of  
2 post-approval studies. And the comments I have are  
3 about the study design and kind of interpreting the  
4 safety signals.

5           I think the important context is this is not  
6 robust evidence of efficacy from a superiority  
7 trial. If it were, I would interpret the safety  
8 signals very differently. The evidence of efficacy  
9 is from a noninferiority trial. The evidence and  
10 the causal inference is indirect. It's based on  
11 information external to the trial. It's based on a  
12 number of assumptions that are not testable.

13           Even though the sponsor designed and  
14 conducted the trial well and according to FDA  
15 guidance, there is residual uncertainty. And at  
16 best, we can exclude that this drug is unacceptably  
17 worse than the comparator. And I think that  
18 context is important for discussing what we see in  
19 the safety signals.

20           So the data are sparse. These trials are  
21 not powered to look at mortality differences. I  
22 did some back-of-the-envelope calculations, and

1 maybe the biostatisticians can correct me. But a  
2 trial this size can only show a statistically  
3 significant difference with a background mortality  
4 rate of 1 percent if the experimental drug had a  
5 five- to sixfold increase in mortality.

6 We just simply don't see drugs with that  
7 kind of mortality signal. So the fact that we're  
8 not doing hypothesis testing and there is not a  
9 statistically significant difference, to me doesn't  
10 erase that there is a concern.

11 I think that there are plausible mechanisms.  
12 One is through cardiac toxicity, through increased  
13 heart rate. I think with an average treatment  
14 effect of 5 beats per minute, you have a lot of  
15 heterogeneity. And it is very plausible that you  
16 have some patients, especially with coronary heart  
17 disease, where that's enough to cause off-target  
18 effects. We've seen some results in the bacteremia  
19 subpopulations, people with larger lesion sizes,  
20 where possibly the effect size and the ECR endpoint  
21 may be less.

22 So I think that there are a lot of potential

1 mechanisms by which there could be a safety signal  
2 here. And in my mind, post-approval studies are  
3 not the right way to study it. I think that, in  
4 this case, it may require a second study. And I  
5 wanted to raise that before we all vote because I  
6 think that's one option for how to get more  
7 information about is there a mortality effect  
8 versus doing post-approval studies, trials, or  
9 observational studies.

10 DR. BADEN: So I guess a question to the  
11 agency is any advice on how to balance the level of  
12 evidence, given the study design, and the  
13 difficulty of evaluating rare signals; just by the  
14 fundamental nature of the design, any guidance on  
15 how to weigh that.

16 Dr. Proschan?

17 DR. PROSCHAN: And just to follow up, it  
18 would be helpful for me to hear from the MDs about  
19 the trade-off of how important is it to have  
20 another drug available versus potential safety. We  
21 hear about the armamentarium. It's important to  
22 maintain an armamentarium. And I'd just like to

1 hear a little bit about that, how important that is  
2 versus off-setting with a safety signal.

3 DR. BADEN: Dr. Brittain?

4 DR. BRITTAIN: I guess I'd also make the  
5 comment that unless there was a really large  
6 mortality difference, it would be very hard to  
7 design a study to detect what that effect is,  
8 unfortunately.

9 DR. FLOYD: So related to that, I did some  
10 additional calculations. The baseline mortality  
11 rate was quite low in this trial. The sponsor  
12 provided data comparing to contemporary trials in  
13 CABP.

14 If you had a baseline mortality rate of 2 or  
15 3 percent, you could conduct a trial of the same  
16 size and find a threefold difference. I'm not  
17 talking about a 30- or 50-percent, but to exclude  
18 large differences in mortality. If that's a goal  
19 of a second trial, it can be designed to do that,  
20 and I think we could advise the FDA on how to do  
21 that.

22 DR. BADEN: So I guess, in struggling with

1 this, as we all are, trying to figure out the  
2 ruler, is the ruler the comparison between the two  
3 arms? Is the ruler what we know goes on with this  
4 kind of condition? And that's where trying to  
5 understand the PORT score, at least for me, is  
6 figuring out, is it that there's an increase in the  
7 new treatment or decrease in the old treatment?

8           How do we weigh that? Because, as  
9 Dr. Toerner said, when you're in a study, you're  
10 different. Everything about being in a study is  
11 different, but yet, I'm struck by how reduced the  
12 mortality rate was for the PORT score. And I'd be  
13 interested in your thoughts on how do you weigh  
14 internal/external data on such a key parameter.  
15 But they tried to with the PORT score by  
16 stratifying.

17           DR. FLOYD: I agree. And I think the  
18 internal comparison that preserves the power of  
19 randomization is the most critical comparison. I  
20 think similar discussions were had over a decade  
21 ago with naproxen and Vioxx, where one explanation  
22 for low rates of MI were, oh, naproxen is just

1 cardioprotective. And I think that we have to  
2 focus on the randomized comparison.

3           There are lots of reasons why people in the  
4 comparator group may have had low mortality rates.  
5 It could have to do with geography, the clinical  
6 trial recruiting sites, the types of patients. But  
7 in my mind, the most important comparison is the  
8 randomized one. And I think there are things you  
9 can do to target patients who are at high risk.

10           I think the sponsor carefully pointed out,  
11 or the FDA, that 7 out of the 8 deaths in the  
12 experimental arm all had existing cardiovascular  
13 disease. If we're worried about both off-target  
14 potential cardiovascular effects, potential  
15 on-target effects in terms of reduced efficacy for  
16 severe infection, you can enrich a trial with  
17 higher PORT score patients or people with  
18 comorbidities. And I think those are the types of  
19 discussions that might be informative. If you were  
20 to require another trial, how could you get the  
21 most information out of it? And I think there  
22 are ways to do it without exorbitant sample sizes.

1 I think on the order of what was done with the  
2 current phase 3 trial we're seeing.

3 DR. BADEN: Dr. Proschan?

4 DR. PROSCHAN: This is part of the reason  
5 that I asked the question I did about the trade-off  
6 of how important it is to have another antibiotic  
7 because you may believe that this drug,  
8 omadacycline, has a higher mortality than  
9 moxifloxacin, but you may also believe that -- I'll  
10 call it moxi so I can pronounce it correctly. But  
11 you may also believe that moxi tends to have lower  
12 mortality than compared to other drugs that are  
13 being used.

14 So if it's really important to have an  
15 additional antibiotic out there, then you might  
16 say, even though I think the evidence is suggestive  
17 that there could be increased mortality compared to  
18 this one drug, I think there could be benefit.  
19 That's part of the rationale for why I asked my  
20 question.

21 DR. BADEN: Yes. And I think we need to  
22 have the discussion as how do we clarify issues and

1 not how we may vote. From my perspective as an  
2 infectious disease practitioner, having  
3 antimicrobial active agents for emerging -- for  
4 increasing spectrum of bugs and resistant organisms  
5 that are relatively easy to administer is not a  
6 trivial issue. It's actually quite important, as  
7 some of the speakers raised.

8 On the other hand, we don't want to accept  
9 excessive risk, and how do we balance that? And  
10 I'm sure that's why we're here. But the emerging  
11 antimicrobial resistance, at least in my view, is  
12 not a trivial issue, but I'm interested in others'  
13 thoughts on that. Dr. Calhoun?

14 DR. CALHOUN: So death is a hard outcome and  
15 we, as you rightly pointed out, don't want to have  
16 an agent that might be associated with an increased  
17 frequency of death.

18 But I think, in looking at safety, it's  
19 maybe too narrow to look solely at the death  
20 signal. I think the comparator drugs have a  
21 considerably higher risk of C. difficile, which is  
22 a big deal. I don't see death very much in my

1 patients, but on occasion, we do see CDI as a  
2 consequence of antibiotic treatment.

3           So I think it's an important thing to make  
4 sure that we're not prescribing a drug that would  
5 be associated with an increased risk of death. It  
6 looks as though those risks are, as the FDA I think  
7 rightly pointed out, small and hard to make  
8 definitive conclusions on the basis of the data  
9 that we've got. But I think the safety issue is a  
10 broader issue than just the relatively few number  
11 of deaths.

12           DR. BADEN: Don't know if other panel  
13 members wanted to comment.

14           (No response.)

15           DR. BADEN: Then we shall move to the  
16 voting. I'm sorry. Dr. Brittain?

17           DR. BRITTAIN: I don't know -- I guess this  
18 is an FDA question -- if it's possible to approve  
19 and then do the type of study where you would be  
20 looking into mortality, and then the drug only  
21 stays on the market as long as that looks good.  
22 Are there mechanisms for doing that?

1 DR. NAMBIAR: We have the authority to  
2 require studies if we have concerns about safety.  
3 So if you're concerned about a safety signal, we  
4 can require. And these are called postmarketing  
5 requirements, and we do have discussions with the  
6 applicant. And it's a requirement. There are  
7 timelines around it.

8 Your question is more if we continue to see  
9 a safety signal and we're worried about a mortality  
10 imbalance and that pans out in subsequent trials,  
11 does the product stay on the market, does it come  
12 off the market?

13 Is that what you were trying to get to?  
14 It's hard to answer that right now. Certainly,  
15 depending on safety data that accrues  
16 postmarketing, there are things that we can do.  
17 But again, depending on the signal, whether you  
18 strengthen the label further, you typically do  
19 things in a step-wise manner, and it also depends  
20 on the intensity of the signal.

21 But I just want to make one point before the  
22 committee votes. I think the kinds of discussions

1       you're having is exactly what we're seeking your  
2       input on. Whether risks can be communicated  
3       adequately in labeling, how might one communicate  
4       that, or is there a need for additional study, I  
5       think that's what we are seeking your input on. So  
6       I just wanted to make that point.

7               DR. BADEN: Thank you. And I think in prior  
8       conversations, we could suggest to the agency to  
9       require a follow-on study. That obviously has  
10      other factors that have to be dealt with, but that  
11      could be one of the suggestions, is to require a  
12      follow-on study. And they would then work with the  
13      applicant to help investigate the signal.

14             Dr. Schaenman?

15             DR. SCHAENMAN: I have a question, I guess,  
16      either to my co-panel members or to FDA, and that's  
17      regarding the structure of these two questions that  
18      we're posed with. I think it's pretty  
19      straightforward to separate the two in terms of  
20      efficacy. One of the questions is on the skin and  
21      the other is on pneumonia. But when it comes to  
22      safety, it seems like that concern is going to

1 bleed between both studies. And I guess I wanted  
2 to get some guidance from fellow committee members  
3 or the FDA in terms of applying safety data or  
4 concerns between questions 1 and 2.

5 In other words, should we really look at  
6 these two questions completely insular? Is there  
7 an air-tight separation between them? Or is safety  
8 something that can move between questions?

9 DR. BADEN: Dr. Farley?

10 DR. FARLEY: John Farley. I can give that a  
11 try. I think I would invite you to think the way  
12 we think. So the statutory standards are  
13 substantial evidence of efficacy and safe for its  
14 intended use. That implies kind of a risk-benefit  
15 consideration for the use that's proposed. That's  
16 why we've separated the questions by indication.  
17 And we would invite you to give us your thoughts  
18 regarding the overall benefit-risk as you're  
19 considering both safety and effectiveness.

20 DR. BADEN: Fair enough. And just to work  
21 with your question, Dr. Schaenman, in thinking  
22 about safety, I share your sense that a drug is a

1 drug is a drug. You have a blood level; you have  
2 side effects.

3           However, safety might be different depending  
4 on the underlying illness. And the signal that we  
5 debated is really in the community-acquired  
6 bacterial pneumonia. It looked like the skin soft  
7 tissue. It wasn't as clear. And maybe that's to  
8 do -- we can speculate. The signal itself has  
9 opacity and complexity because of the small number.

10           I look at safety as in toto, but then also  
11 might there be modifying factors that might  
12 influence it? Dr. Brittain?

13           DR. BRITTAIN: So I just have a question,  
14 which is, would the populations of the skin trials  
15 generally be less likely to have pre-existing  
16 cardiovascular conditions, et cetera? Are they  
17 likely to be different types of populations?

18           DR. BADEN: I guess how will use be in  
19 relation to what was studied, and I think that's an  
20 intrinsic question to any study. Has it been  
21 de-generalized? So share the uncertainty.

22           Dr. Weina, you had a comment?

1 DR. WEINA: I was just going to say, with  
2 safety, though, if the signal is there for  
3 pneumonia because of the fact that they've got  
4 underlying cardiac disease or they have diabetes, I  
5 can tell you serious soft tissue, I see that every  
6 day in clinic in which I've got an 85-year-old  
7 diabetic being sent to me because they've been put  
8 on an antibiotic by somebody, and it maybe isn't  
9 working as fast as they would like it, and they  
10 say, "Fix them." And this is the same population  
11 that may be coming in with pneumonia.

12 So the safety signal, if it's there and if  
13 it's really a true safety signal, is going to ding  
14 I think that individual as well the pneumonia  
15 person.

16 DR. BADEN: Other discussion points?  
17 Dr. Brittain?

18 DR. BRITTAIN: But at the same time, we're  
19 not seeing a signal in the skin trial. Right?

20 DR. BADEN: We have the data we have.

21 DR. BRITTAIN: Right.

22 DR. BADEN: Then how it gets generalized is

1 always tricky, but that goes for any data set.

2 Dr. Swenson?

3 DR. SWENSON: I think the point is that in  
4 that skin and soft tissue infection trial, the  
5 patients were much younger, and I wouldn't carry  
6 the same cardiovascular burden of the CABP  
7 population.

8 DR. FLOYD: I think we have a real issue of  
9 effect modification here if there is a genuine  
10 signal, not just by disease, but by prior  
11 cardiovascular disease, age. And these are the  
12 types of things that need to be teased out with  
13 further study, I think.

14 DR. BADEN: I think we should proceed to the  
15 questions. So we will be using an electronic  
16 voting system for this meeting. Once we begin the  
17 vote, the buttons will begin flashing and will  
18 continue to flash even after you have entered your  
19 vote. Please press the button firmly that  
20 corresponds to your vote.

21 If you are unsure of your vote or you wish  
22 to change your vote, you may press the

1 corresponding button until the vote is closed.  
2 After everyone has completed their vote, the vote  
3 will be locked in.

4 The vote will then be displayed on the  
5 screen. The DFO will read the vote on the screen  
6 into the record. Next, we will go around the room,  
7 and each individual who voted will state their name  
8 and vote into the record. You can also state the  
9 reason why you voted as you did if you want to.

10 We will continue in this same manner until  
11 all questions have been answered.

12 Question number 1. Has the applicant  
13 provided substantial evidence of the safety and  
14 effectiveness of omadacycline for the treatment of  
15 acute bacterial skin and skin structure infections?  
16 If yes, please provide any recommendations  
17 concerning labeling. If no, what additional  
18 studies, analyses are needed?

19 Are there any questions about the question?

20 (No response.)

21 DR. BADEN: Then we shall proceed to voting.

22 (Voting.)

1 DR. TESH: For the record, the voting is 17  
2 yes; 1 no; zero abstentions; zero no voting.

3 DR. BADEN: Then we shall start on the left  
4 with Dr. Hennessy. State your vote and any  
5 comments to the agency about your vote.

6 DR. HENNESSY: Sean Hennessy. I voted yes.  
7 I don't have any comment.

8 DR. SWENSON: Erik Swenson. I voted yes,  
9 and I think the data are solid. You could almost  
10 say that, in this case, the linezolid was  
11 noninferior to the test drug.

12 DR. EVANS: Scott Evans. I voted yes.  
13 Obviously, there will have to be postmarketing  
14 surveillance in terms of the GI effects, the  
15 fasting requirements, and ultimately a survival  
16 signal, but I really have very few concerns.

17 DR. BRITTAIN: Erica Brittain. I voted yes.  
18 It was a little bit of an uncomfortable yes with  
19 the mortality, the possible signal that we're  
20 seeing in the other population. But because, at  
21 least within this population that was studied, we  
22 didn't see any issue with mortality. And the

1 efficacy results were quite strong. Especially in  
2 one study, it's almost significantly better for the  
3 drug.

4 DR. SCHAENMAN: Joanna Schaenman. I voted  
5 no. As the one outlier here, I should say I voted  
6 no with some regret and taking to heart the  
7 comments of the FDA in terms of trying to balance  
8 risk and benefit.

9 I voted no for concerns of safety. I do  
10 firmly believe that the sponsor has demonstrated  
11 noninferiority to linezolid, has shown  
12 effectiveness over a range of skin flora, including  
13 MRSA, including relatively large areas of skin  
14 involvement in patients with abscess. So that part  
15 was excellent. However, the length of treatment  
16 was relatively short, 7 to 10 days. It was a  
17 relatively young population that was generally  
18 Caucasian.

19 Therefore, although no significant safety  
20 signals were shown, as Dr. Weina was suggesting, if  
21 this product is used for its intended use, which  
22 would be all patients with skin and soft tissue

1 infections, I think it's likely or possible that  
2 with use in older patients and patients with  
3 comorbidities, because of the findings in the OPTIC  
4 study, we might have a safety signal emerge, and we  
5 really lack data in a wide range of racial and  
6 ethnic groups.

7           Were this drug to go to market, I do think  
8 that the labeling should indicate that this may not  
9 be ideal therapy for patients with bacteremia given  
10 the limited data and, again, that it's not tested  
11 in older patients or patients who are  
12 immunocompromised.

13           DR. BADEN: Thank you.

14           DR. DASKALAKIS: Demetre Daskalakis. I  
15 voted yes. I think that the sponsors did  
16 demonstrate very good efficacy and noninferiority  
17 against linezolid. From the future study  
18 perspective, I do think that we should consider  
19 studies that do look at specific populations, so  
20 looking at not just modeling pharmacokinetics,  
21 pharmacodynamics in response in African-Americans  
22 and others that actually enrolls people of color in

1 the study. I think that's going to be really  
2 important.

3           Additionally, I think that having vigilance  
4 toward any adverse effect signal in folks using  
5 this for soft tissue infections is important, and I  
6 think postmarketing studies will be important to  
7 follow up.

8           DR. HONEGGER: Jonathan Honegger. I voted  
9 yes. I believe the phase 3 trials showed  
10 noninferiority to linezolid clearly and had an  
11 acceptable and predictable adverse effect profile.  
12 I welcome a new option for skin infections,  
13 including MRSA, that have an IV to PO option.

14           Dr. Green might share the same concern. In  
15 children, we also have this need and encourage PK  
16 safety and tolerability studies in children. And  
17 it's noted recently that doxycycline is actually  
18 safe at any age in terms of tooth staining. So it  
19 may be interesting to look at the likelihood of  
20 enamel staining in the younger populations, too,  
21 and whether this would be an option for younger  
22 children as well.

1 DR. BADEN: Dr. Baden. I voted yes. I  
2 think the data were, as already stated, solid.  
3 They demonstrated noninferiority. And there was no  
4 evident safety signal in this population. As  
5 others have mentioned, I'll come back to the safety  
6 concerns next go-around. Dr. Weina?

7 DR. WEINA: Peter Weina. I voted yes. I'm  
8 thrilled about the possibility of having yet  
9 another IV to PO option, and I will reserve  
10 comments about safety for the next question.

11 DR. M. GREEN: Michael Green. I voted yes.  
12 I think that, as stated, both analyses by the  
13 sponsor and the agency demonstrated no issues with  
14 effectiveness as demonstrated by noninferiority.  
15 The safety signal for this indication looked to be  
16 nausea, vomiting associated with the oral loading  
17 dose.

18 The ability to use an oral therapy or  
19 transition to oral from IV is a real plus, and the  
20 apparent lower risk for CDI is not insignificant.  
21 I don't have any specific suggestions for labeling  
22 for this indication beyond awareness of the issues

1 with nausea and vomiting and the need to restrict  
2 oral intake around the dose.

3 I'm not certain if concerns identified with  
4 the CABP population should be translated to the  
5 label for this indication.

6 DR. BADEN: Dr. Gripshover?

7 DR. GRIPSHOVER: Hi. I'm Barbara  
8 Gripshover, and I voted yes. Like others have  
9 said, I think that the studies showed that there  
10 was clear efficacy in meeting the noninferiority to  
11 linezolid in an area where I also am very glad to  
12 have new therapies. And there were no safety  
13 signals other than the nausea and vomiting with the  
14 oral load.

15 Regarding the label, I do think there's not  
16 enough data to support including the words,  
17 "Including cases with concurrent bacteremia for  
18 Staph aureus." We know that people with  
19 Staph aureus bacteremia usually require at least  
20 14 days of treatment and often more in an  
21 evaluation for disseminated disease. And I don't  
22 think that we had enough data to show that this

1 drug worked for bacteremia.

2 DR. BADEN: Dr. Lo Re?

3 DR. LO RE: I voted yes. I thought the data  
4 from the OASIS-1 and 2 trials sufficiently  
5 demonstrated that omadacycline was noninferior to  
6 linezolid. I thought the subgroup analyses did not  
7 reveal really any concerns regarding efficacy and  
8 certainly there were very few serious adverse  
9 events for this indication.

10 I think we have an enormous need for  
11 additional antibiotics like this, given emerging  
12 community-acquired resistance.

13 DR. OFOTOKUN: Igho Ofotokun. I voted yes  
14 for the same reason that has been expressed by  
15 other committee members, and I am very convinced  
16 about the noninferiority of this drug to linezolid.  
17 And I also think there is a need for an alternative  
18 agent in this space.

19 I would also add the caution that we really  
20 don't have enough data to recommend this in a  
21 certain way. There is soft tissue infection in  
22 addition to bacteremia, especially Staph aureus

1 bacteremia.

2 I think whatever label is approved, there  
3 should be a clear indication that we really don't  
4 have enough data to support the use of this drug in  
5 cases where the soft tissue infection is  
6 complicated by bacteremia.

7 MS. D'AGOSTINO: Emma D'Agostino. I voted  
8 yes for the reasons already stated for this  
9 application. I thought that the safety and  
10 efficacy were convincing.

11 DR. BADEN: Thank you. Ms. Nelkin?

12 MS. NELKIN: My name is Tina Nelkin. I'm a  
13 patient representative. Due to the fact of the  
14 emergence and rising of antibiotic resistant  
15 bacteria, I think it's so important to have more  
16 options open to physicians. And I know patients  
17 are very concerned about the quinolone concerns,  
18 and this would of course address that. Thank you.

19 DR. BADEN: Thank you. Dr. Calhoun?

20 DR. CALHOUN: Bill Calhoun. I voted yes for  
21 reasons already stated.

22 DR. BADEN: Dr. Floyd?

1 DR. FLOYD: I voted yes. I thought that the  
2 phase 3 trials showed that the potential benefits  
3 outweigh the potential risks, but I do share  
4 concerns expressed about use in patients with  
5 bacteremia and also the concerns of the potential  
6 mortality signal from the CABP trial with sicker  
7 and comorbid patients. So I think that information  
8 should be communicated in the label somehow.

9 DR. BADEN: Dr. Proschan?

10 DR. PROSCHAN: Yes. I'm Michael Proschan.  
11 I voted yes for, I think, all the reasons stated so  
12 far. GREEN

13 DR. BADEN: So the summation from question 1  
14 is 17 yes, 1 no. The yeses, the key themes were  
15 the two RCTs, data are solid, they met the  
16 noninferiority margin. However, much data are  
17 needed for many groups beyond what was studied,  
18 bacteremic groups, the young, the comorbid. So  
19 that will all need to be taken into consideration.

20 The safety signal for the total portfolio  
21 will need to be in the label and clear if this were  
22 to go forward, and there was some echoing of just

1 the importance of having more agents to treat  
2 resistant organisms and to have both IV and oral  
3 options.

4 The no concurred with the efficacy signal,  
5 but the limitations of the data and the safety  
6 concern were significant.

7 We should now move to question 2. We'll  
8 have the same voting mechanism. Has the applicant  
9 provided substantial evidence of the safety and  
10 effectiveness of omadacycline for the treatment of  
11 community-acquired bacterial pneumonia? If yes,  
12 please provide any recommendations concerning  
13 labeling. If no, what additional studies, analyses  
14 are needed?

15 Any questions about the question?

16 (No response.)

17 DR. BADEN: If not, then let's proceed to  
18 the vote.

19 (Voting.)

20 (Pause.)

21 DR. BADEN: I hope everyone's voted.

22 Somebody has not voted, so please, everyone,

1 press your buttons again in case you happen to be  
2 that one.

3 (Voting.)

4 DR. BADEN: We now have completed voting  
5 early and often.

6 DR. TESH: For the record, the voting result  
7 is 14 yes; 4 nos; zero abstentions; zero no voting.

8 DR. BADEN: So we shall now start from the  
9 right with Dr. Proschan. State your vote and any  
10 key reasons or guidance to the agency.

11 DR. PROSCHAN: I'm Michael Proschan. I  
12 voted no. This wasn't easy for me, actually,  
13 because, again, I have to try and figure out what  
14 the benefit of having an additional antibiotic  
15 versus the safety signal.

16 I am concerned about the safety signal. 8  
17 to 3, I don't see how you could feel confident that  
18 there's not a problem here. And the fact that 3 of  
19 the deaths were very early also bothers me. So I  
20 have enough concern that it caused me to vote no,  
21 although as I've said, I had a hard time figuring  
22 out whether the benefits offset the disadvantages.

1 DR. BADEN: Thank you. Dr. Floyd?

2 DR. FLOYD: I voted no, not because I  
3 thought there was absolutely convincing evidence  
4 from the one trial that the drug is ineffective or  
5 unsafe, but because I don't think there's enough  
6 information to conclude that it is both effective  
7 and safe for the reasons that I've already raised.

8 I think that there is a way forward. I  
9 think that we need more information from a  
10 well-designed, adequately powered second phase 3  
11 trial with clear objectives. And in particular,  
12 we're concerned about patients with comorbidities  
13 who are older, who are at higher risk of bad  
14 outcomes. And I think that you can enrich that  
15 population to do the trial efficiently.

16 DR. BADEN: Dr. Calhoun?

17 DR. CALHOUN: Bill Calhoun, and I voted yes.  
18 And let me separate my comments into the efficacy  
19 and the safety piece. In terms of the efficacy, I  
20 think the data were convincing that omadacycline is  
21 noninferior to moxi.

22 I think there are important advantages to

1 the omadacycline versus some of the comparators  
2 with respect to the non-fatal adverse events. So I  
3 think, although this was only one study, it was the  
4 pre-determined pathway that the agency outlined for  
5 the sponsor, that one study was going to be  
6 sufficient so long as there was a study in a  
7 different indication, as I understood the briefing  
8 document.

9 So they met the regulatory requirement that  
10 the agency had laid out for them. So from the  
11 efficacy standpoint, that was a solid yes.

12 From the safety side, people with community-  
13 acquired pneumonia die, and it is of concern that 8  
14 of them died in the omadacycline group and only 3  
15 of them died in the moxi group.

16 Having said that, it is not impossible that  
17 that's a statistical variance. And I think the  
18 data that we've got don't exclude the possibility  
19 that that's a statistical fluke; that's something  
20 that just happened.

21 I share the concerns that have been  
22 expressed that this needs careful monitoring and

1 follow-up and would encourage the agency to work  
2 well with the sponsor to sort out appropriate  
3 postmarketing follow-up, whether that's a  
4 randomized trial or exactly what that is, that's  
5 your expertise. But I do think that the question  
6 of increased death in people who have got diabetes,  
7 who have got high PORT scores, and are more aged is  
8 something that needs to be followed.

9 So the safety appears acceptable to me in  
10 this population, but I think there are some  
11 concerns that will require longer-term follow-up.

12 DR. BADEN: Ms. Nelkin?

13 MS. NELKIN: My name is Tina Nelkin. And  
14 again, I'm the patient representative. It's a  
15 little more personal for me. I am a pneumonia  
16 survivor, and I was rushed to the emergency room in  
17 respiratory failure. I feel that having another  
18 drug that's available is so important and the fact  
19 that, again, as I stated before, it addresses the  
20 quinolone issue. I do think there's always room  
21 for follow-up study, so I certainly voted yes.

22 MS. D'AGOSTINO: Emma D'Agostino. I voted

1       yes. I'm going to echo what others have said and  
2       probably will say. And in postmarketing, I would  
3       like to see those higher-risk populations carefully  
4       studied. But for me, seeing that mortality rates  
5       were in line both with other CABP trials as well as  
6       the expected rates by PORT risk class really  
7       alleviated the concern a lot.

8               I agree with Dr. Calhoun that a statistical  
9       anomaly is definitely possible with these really  
10      low numbers. And I would also echo that I fully  
11      recognize that having other antibiotic options is  
12      absolutely critical.

13             DR. BADEN: Dr. Ofotokun?

14             DR. OFOTOKUN: Igho Ofotokun. I voted yes  
15      for the same reason that has been expressed. I am  
16      quite convinced that this drug is noninferior to  
17      moxifloxacin. The efficacy data is quite  
18      convincing to me.

19             I also have the same reservation concern  
20      about the safety of the product, and I'm reassured  
21      by the fact that the applicant has indicated  
22      interest in doing an additional postmarketing

1 study. I would strongly recommend that that should  
2 be done, especially in the special population with  
3 multiple comorbidities, particularly cardiovascular  
4 comorbidities.

5 I think there is also a need to study this  
6 product in a diverse population amongst blacks. I  
7 did not see a breakdown of the analysis by sex or  
8 gender. It would be really interesting to look at  
9 whether there are differences in the way men and  
10 women respond to this product.

11 So these are some of the things that I would  
12 like to see, but otherwise, I think there's a need  
13 for an additional agent, and I think efficacy has  
14 been demonstrated. I think some of the limitations  
15 regarding the safety of this product, in especially  
16 the elderly and comorbid patients, should be  
17 clearly outlined in the package should this product  
18 be approved by the FDA.

19 DR. BADEN: Dr. Lo Re?

20 DR. LO RE: I thought that the data from the  
21 community-acquired pneumonia OPTIC trial clearly  
22 suggested that omadacycline was noninferior to

1       moxifloxacin for both the primary endpoint of early  
2       clinical response and that the result was sustained  
3       at the post-treatment evaluation.

4                Recognizing that the mortality difference  
5       between omadacycline and the moxifloxacin groups  
6       was really the key reason for convening this  
7       committee, I thought it was reassuring that the  
8       incidences of mortality for omadacycline users with  
9       PORT III or IV scores were within the typical  
10       ranges for these scores.

11               I do have concerns regarding the results of  
12       some of the subgroup analyses, particularly among  
13       patients with bacteremia, diabetes, the more  
14       advanced PORT IV scores, and by the etiologic  
15       organisms. But I think, as was noted, these were  
16       limited really by the post hoc nature of the  
17       analyses, the small sample sizes, and the fact that  
18       the polymicrobial mixed infections.

19               Really, none of these analyses shed light on  
20       the reasons for the mortality difference. So  
21       consequently, I think it might be prudent to  
22       warrant additional studies in the postmarketing

1 setting, preferably both randomized and  
2 observational components, to examine these results  
3 in larger samples of the subgroups of interest as  
4 well as in racial ethnic groups that were  
5 underrepresented in these studies.

6 DR. BADEN: Dr. Gripshover?

7 DR. GRIPSHOVER: Hi. I'm Barb Gripshover,  
8 and I voted yes. As others have said, I think that  
9 this study did clearly meet noninferiority to  
10 moxifloxacin. And regarding non-fatal adverse  
11 events, it did appear safe as well.

12 I think the deaths are concerning, although  
13 I think it's unclear if it's due to the drug being  
14 less efficacious in sick patients with many  
15 comorbidities or if it's due to randomization  
16 chance, given the very small numbers.

17 How to reflect this in the label is  
18 challenging. and it's not clear that it is worse in  
19 sick patients. I suggest a statement acknowledging  
20 that there were a few deaths in total, but more in  
21 the omadacycline arm, and that these patients were  
22 older, had higher PORT scores, and more

1 comorbidities, including COPD and diabetes.

2 Like others have said, I think a post-  
3 approval randomized study in sicker pneumonia  
4 patients would be valuable to answer this question  
5 and also to then make prescribers feel more  
6 comfortable using in this population; so an  
7 incentive for both sides. Thank you.

8 DR. BADEN: Dr. Green?

9 DR. M. GREEN: Michael Green. I voted yes.  
10 I think both the sponsor and the agency's efficacy  
11 analysis show noninferiority, though for proven  
12 typical bacterial pathogens, there may be some  
13 concerns, but the numbers were small.

14 At least for H. flu, this could potentially  
15 be explained by the association of H. flu with  
16 something like chronic obstructive pulmonary  
17 disease. But the challenging aspect of this  
18 submission is clearly the death signal. And this  
19 clearly appears to be occurring in a very high-risk  
20 subset with more severe disease at onset, who are  
21 older and had one or more additional comorbidities.

22 The review of the prior comparative trials

1 for CABP was somewhat reassuring for the death rate  
2 seen in the current study and that it was within  
3 the expected range, especially given the severity,  
4 age, and comorbidities.

5 We do need additional options for treatment  
6 of patients with resistant organisms. Coupling  
7 this with the IV to PO option and the apparent  
8 decrease in CDI warranted my support.

9 However, I do think the label should raise  
10 attention regarding the use of omadacycline, or  
11 however one pronounces it, in patients greater than  
12 65 with severe disease and the presence of key  
13 comorbid conditions seen in this study. The label  
14 might also raise a bit of concern with bacteremia,  
15 though the data to support this are not strong.

16 Finally, I would strongly support the agency  
17 requiring follow-on studies to clarify the  
18 mortality signal.

19 DR. BADEN: Dr. Weina?

20 DR. WEINA: Peter Weina. I voted yes.  
21 Efficacy, as in how things work in clinical trials  
22 versus effectiveness, how they work in the real

1 world, is critically important, and the  
2 pharmacology and trial data is comforting to me as  
3 to the potential for effectiveness and frankly for  
4 both indications.

5 As far as safety, the only way to be sure  
6 that we as a committee can recommend approval of a  
7 drug that in the future won't potentially come back  
8 to bite the FDA in the backside is to deny all  
9 drugs brought to our attention, not really a  
10 realistic option.

11 While no one has yet created the clinical  
12 trial equivalent of a crystal ball, I think that  
13 the mortality data available has been pretty much  
14 flawed both by the agency and by us here today.  
15 The reality is we're going to see deaths with  
16 really sick people, especially in those with  
17 comorbid conditions.

18 It's comforting to me that this drug is safe  
19 and effective within the parameters that we look at  
20 normally for infectious disease products and  
21 especially given the size of the trial that was  
22 done.

1           Postmarketing surveillance is always prudent  
2 and will occur whether mandated or not. There are  
3 a lot of watchdog groups out there to keep  
4 clinicians, the FDA, and the pharmaceutical  
5 industry honest eventually. Additional studies in  
6 those with cardiac conditions, COPD, and diabetes  
7 would be helpful, though.

8           DR. BADEN: Thank you. Dr. Baden. I voted  
9 yes. I won't restate the comments already made.  
10 But I think the challenge is not that medications  
11 have side effects. They do. There's nothing we'll  
12 approve or use, even penicillin, that has lethal  
13 side effects. The question is how to minimize  
14 overuse, which is antibiotic stewardship, and how  
15 to understand those side effects so they are kept  
16 proportionate for the disease they are treating.

17           Community-acquired pneumonia with high PORT  
18 scores is not a trivial disease with significant  
19 morbidity. I think that the safety signal that has  
20 been dwelled on by all, as Dr. Calhoun said, it's  
21 unclear what it means; small numbers, lot of  
22 comorbidity, and complicating features that demands

1 further follow-up. And I think requiring follow-on  
2 studies will make sense and be important.

3 I think that one needs to bear in mind that  
4 there are other risks to antibiotics from C. diff  
5 to the requiring an IV in a PICC line, and other  
6 kinds of side effects that also have to be weighed  
7 as one thinks about what options are available to  
8 those of us who are taking care of patients.

9 I think key subgroups that can be looked at  
10 with the data available and will need to be  
11 understood in the future are different aspects of  
12 the host and different aspects of the pathogen in  
13 relationship to the syndrome. But I think that  
14 will be evident in the need for the future studies.

15 I commend to the applicant just the story  
16 with Trovan and trovafloxacin to be careful about  
17 overuse with side effects can have an untoward  
18 effect. And one needs to be careful that the  
19 pushing of the use is proportionate to the disease,  
20 so if side effects emerge, they can be  
21 proportionate to the benefit. And I think that is  
22 important for all to pay attention to, so that we

1 can maintain having more options to treat our  
2 patients.

3 Dr. Honegger?

4 DR. HONEGGER: Jonathan Honegger. I also  
5 voted yes for the reasons that have been mentioned.  
6 I was convinced of the adequate efficacy and safety  
7 overall, which showed the concerns that others have  
8 mentioned in the high-risk subgroups, those with  
9 bacteremia.

10 The mortality excess is obviously a concern.  
11 I was reassured by what the norms are in other  
12 community-acquired pneumonia studies, people with a  
13 similar degree of illness, and also for the lack of  
14 unifying mechanisms of death in the 8 patients who  
15 died.

16 So I felt that, overall, the benefits  
17 outweighed the risks. And I agree with the  
18 labeling and the follow-up recommendations that  
19 have already been mentioned.

20 DR. BADEN: Dr. Daskalakis?

21 DR. DASKALAKIS: Demetre Daskalakis. I  
22 voted yes, that the omadacycline did demonstrate

1 effectiveness, noninferiority to moxifloxacin, and  
2 the data is pretty clear that it is a welcome  
3 addition to the armamentarium for treating  
4 pneumonia, and that the risk and benefit needs to  
5 be something that clinicians who are using the drug  
6 have to weigh.

7           That reflects the need, I think, for the  
8 commentary we've already had around labeling and  
9 the need to be transparent about what we do know  
10 and what we don't know from the perspective of a  
11 signal related to mortality as well as the limits  
12 that the drug may have in certain subpopulations  
13 that we've heard about from everyone else who  
14 spoke, so I won't repeat them.

15           Ultimately, I think labeling, as well as,  
16 again, mandatory, postmarketing studies that  
17 include specific focus on those subpopulations,  
18 including bacteremic pneumonias as well as  
19 individuals with higher PORT scores and  
20 cardiovascular disease, will be critical.

21           Again, I want to comment, it is important to  
22 also include a diverse range of people from the

1 perspective of racial and ethnic background. Thank  
2 you.

3 DR. BADEN: Thank you. Dr. Schaenman?

4 DR. SCHAENMAN: I'm Joanna Schaenman. I  
5 voted no due to concern for safety in the single  
6 phase 3 trial data that was presented. Certainly,  
7 a new antibiotic in what is a relatively novel drug  
8 class, at least for 2018, is very welcomed,  
9 especially one that has such convenient dosing and  
10 an option for oral dosing, especially in the  
11 growing environment of growing antibiotic  
12 resistance in C. diff. I think all of us as ID  
13 providers are acutely aware of that situation.

14 My concern is that because these features  
15 are so attractive, I feel that once approved, this  
16 drug would probably be used widely regardless of  
17 the labeling. And to me, that makes it more  
18 important for us to be more assured with additional  
19 data before approval is granted.

20 Ironically, a more toxic or an IV-only drug  
21 would be easier to restrict compared to one that is  
22 once-a-day dosing with an oral pill.

1           I certainly would vote yes for  
2 effectiveness. I think the sponsor's clearly  
3 demonstrated noninferiority to moxifloxacin by the  
4 prespecified endpoints, although some concerns were  
5 raised previously regarding efficacy in older  
6 patients and in more severe episodes of pneumonia.

7           In terms of additional studies, given the  
8 safety concerns, as was mentioned by Dr. Floyd, it  
9 would seem prudent to conduct additional studies to  
10 ensure that the safety signal observed is not a  
11 real issue. I'm specifically talking about the  
12 death signal, again, because of the large number of  
13 patients who would likely receive this drug,  
14 including older patients, who might be at increased  
15 risk for adverse events and who might currently  
16 have other antimicrobial options, although those  
17 might require hospital admission.

18           I think it was very notable that the safety  
19 signal was, again, seen in older patients who had  
20 comorbidities, including lung disease,  
21 cardiovascular disease, and diabetes, and that the  
22 events, although not clearly causally related to

1 the drug, were nonetheless respiratory and cardiac,  
2 which is, again, intriguing given that heart rate  
3 increase noted in day 1 and 2. I just think it's  
4 interesting.

5 Certainly, it's very possible that, as the  
6 sponsor suggested, this was due to chance. And I  
7 am aware that for these ill patients, there is a  
8 certain mortality rate that would be expected.  
9 Nonetheless, it'd be very reassuring for me and  
10 others to see a repeat randomized controlled study  
11 to confirm that the safety signal does not exist.  
12 I certainly hope that it does not, as again this  
13 would be a wonderful drug to add to the medications  
14 that we have.

15 As was mentioned by several people, I think  
16 it would also be reassuring to see that it could be  
17 used safely in racial and ethnic groups that are  
18 more representative of the U.S. population.

19 I think the labeling should mention the  
20 limitations in terms of antimicrobial spectrum of  
21 coverage, lack of efficacy against pseudomonas and  
22 the other SPICE organisms, that it should not be

1 used for empiric therapy for hospital-acquired  
2 pneumonia, nor for polymicrobial infections, nor  
3 MRSA pneumonia unless additional data is presented  
4 and certain utility in immunocompromised patients;  
5 also perhaps not a first-line choice for a  
6 critically ill septic patient or patient with  
7 bacteremia.

8           However, as indicated by the sponsor, this  
9 would really be an effective option for community-  
10 acquired pneumonia, and I believe that this drug  
11 does hold real promise for this indication.

12           I also wanted to mention that, despite the  
13 microbial spectrum considerations, I do really  
14 think that with increased use of molecular methods  
15 for diagnosis, it's going to become increasingly  
16 common for hospitalized patients, for us to have a  
17 causative pathogen at or near the time of  
18 admission. This would allow for informed  
19 antibiotic choice and allow the use of a narrow or  
20 moderate spectrum drug such as omadacycline and  
21 avoid unnecessarily broad antibiotics when  
22 appropriate.

1 DR. BADEN: Dr. Brittain?

2 DR. BRITTAIN: Erica Brittain. I voted no,  
3 which is pretty much a 50/50 vote for me. I could  
4 have voted either way. It was very hard to vote.  
5 And I had the same -- shared Dr. Proschan's feeling  
6 about it's really hard as not a clinician to juggle  
7 the possible mortality imbalance risk with the  
8 obviously importance of getting new antibiotics to  
9 the market.

10 Even though the efficacy is not the reason I  
11 voted no, and certainly the overall results are  
12 fine, I did want to mention, it is true that there  
13 are some -- I had a minor concern about the  
14 numerically lower results in the micro-ITT and,  
15 again, in the sicker patients, which kind of echoes  
16 the issue about the sicker patients in the  
17 mortality imbalance. So I did kind of wonder about  
18 that, but that's certainly not why I voted no.

19 Again, the question said substantial  
20 evidence of safety, and I felt just barely that  
21 there's obviously still an open question about if  
22 the mortality imbalance represents the true problem

1 in the older, sicker patients. So clearly, there  
2 needs to be a randomized trial in the older, sicker  
3 patients. I do not feel strongly about whether  
4 that's premarketing or postmarketing, but there  
5 does need to be a trial.

6 As a final comment, just not about this  
7 study, I just wanted to give my appreciation to the  
8 FDA about this challenging noninferiority design  
9 seems to be a rigorous process to the endpoint  
10 definition and the setting of the margins that I  
11 very much appreciate.

12 DR. BADEN: Dr. Evans?

13 DR. EVANS: Scott Evans. I voted yes. I  
14 think I did so with less anguish than some of my  
15 colleagues. I think the noninferiority has been  
16 well established. I do have some concern about the  
17 safety signal, but as Dr. Calhoun said earlier,  
18 those of us that care for patients with pneumonia  
19 know that sick patients with pneumonia die.

20 When I look at this list, there's no  
21 unifying mechanism, as was stated earlier, and I  
22 have to wonder about the possibility of

1 randomization effects there. Relative to what we  
2 would expect based on the CURB-65 or the PSI, these  
3 patients did very well. I think it's appropriate  
4 to have a randomized evaluation prospectively  
5 collected going forward, but I think this is going  
6 to be an important drug. Thanks.

7 DR. BADEN: Dr. Swenson?

8 DR. SWENSON: Erik Swenson. I voted yes as  
9 well. I think in terms of the noninferiority  
10 margins, it passes and I have no concerns there  
11 within the group that we saw data for. I don't  
12 know that we should absolutely discount the  
13 vagolytic effect in these older people even though  
14 they have less vagal tone in general.

15 Many of them are probably being given beta  
16 agonists and possibly even inhale anticholinergics  
17 during their treatment of pneumonia if they have  
18 any degree of bronchospasm.

19 So it could be piling on in a very sensitive  
20 group here, and I'd like to see that issue explored  
21 a bit further. And I think that could be done  
22 possibly in that group enriched for PORT IV, more

1 ill patients, and that that study I think would be  
2 very, very important to also include some of the  
3 other weaknesses in patient recruitment that were  
4 mentioned as well.

5 DR. BADEN: Thank you. Dr. Hennessy?

6 DR. HENNESSY: Thanks. Sean Hennessy. I  
7 voted yes. I think that the mortality imbalance  
8 should feature prominently in the label until such  
9 time as that's been more thoroughly evaluated and  
10 disproven.

11 I think that a pragmatic trial would be  
12 useful and complimentary to the explanatory trials  
13 that have been done to date in addition to non-  
14 randomized studies using real-world data.

15 DR. BADEN: Thank you.

16 Question 2, the summation is 14 yes, 4 no.  
17 Those in favor, the efficacy was convincing, and  
18 that was largely expressed by all 18 of us. There  
19 are advantages to omadacycline, met the regulatory  
20 requirements. Community-acquired bacterial  
21 pneumonia has a high mortality, so the signal seen,  
22 it's difficult to understand what it means,

1       although we all share the concern.

2               There is a need for more antibiotics for  
3 resistant pathogens. There needs to be more data  
4 generated on all the different subgroups mentioned  
5 with comorbidity, different organisms, bacteremia.  
6 And with those data, then we can have greater  
7 confidence for use in those circumstances.

8               The nos agreed with the efficacy, but the  
9 safety signal is a concern and wanted more data on  
10 the safety signal before this is used more widely,  
11 particularly since it is so easily used, the  
12 potential for overuse is high, and therefore the  
13 risk in that setting is high.

14              I think that you heard all the other  
15 comments, but I think a theme that emerged was that  
16 we would recommend for you to require a follow-on  
17 study of high quality in a population that is  
18 somewhat analogous to where the risk signal was  
19 seen.

20              Whether it's community-acquired pneumonia  
21 PORT IIIs/IVs, the specifics of it take more than  
22 we can discuss here, but I think the sense that I

1 get from the committee was that there is a strong  
2 sense that we would want to require such data to be  
3 generated to help the community understand how to  
4 use this safely, studying it in those who are at a  
5 higher risk for the events of concern. And that is  
6 of course for your consideration.

7 This concludes the business of the  
8 committee, but before I conclude the business, I  
9 just want to thank the agency for the amount of  
10 energy in the presentations that you presented --  
11 that was a tremendous amount of data -- as well as  
12 to the applicant.

13 I don't know how many backup slides you  
14 have, but it's clear to me it's in the 1 [000] to  
15 2,000 range easily --

16 (Laughter.)

17 -- with a BU-1038 being the highest one that  
18 I saw, but there's the XX series and the double CC  
19 series, and the CU series. And the ability to jump  
20 between the data quickly and share it with us was  
21 incredibly important for us to be able to come to  
22 the assessments that we were able to come to, as

1 you heard.

2 So I want to thank both parties for  
3 presenting a tremendous amount of data efficiently  
4 and to rework the data based on the committee's  
5 concerns.

6 I don't know if Dr. Nambiar would like to  
7 have any final comments.

8 DR. NAMBIAR: I do. Thank you, Dr. Baden.  
9 I know we are running a little late, but if it's  
10 okay with you, can I have a couple of minutes?

11 I would like to take a few minutes to  
12 recognize some of the committee members who will be  
13 rotating off the committee later this year. On  
14 behalf of the Office of Antimicrobial Products and  
15 the Division of Anti-Infective Products, I would  
16 like to extend my sincere thanks to the following  
17 committee members, Dr. Daskalakis, who is acting  
18 deputy commissioner, New York City Department of  
19 Health and Mental Hygiene; Dr. Honegger, assistant  
20 professor of pediatrics, Ohio State University  
21 College of Medicine; and Dr. Lo Re, who is  
22 associate professor of medicine at the University

1 of Pennsylvania; and Dr. Corbett, who is a clinical  
2 associate professor at the University of North  
3 Carolina. She is not able to join us with the two-  
4 day meetings.

5 We do recognize that your participation at  
6 these meetings is a major time commitment that adds  
7 to your busy work schedules and also takes you away  
8 from your primary work responsibilities. Your  
9 participation at the meetings and the advice you  
10 provide plays a very important role in our  
11 decision-making process and in making safe and  
12 effective therapies available for patients.

13 We thank you for your dedicated service to  
14 public health and for your contributions to the  
15 field of anti-infective drug development.

16 Before you're too happy, I just want to let  
17 you know we might certainly tap on your expertise  
18 at future meetings, depending on the topics at  
19 hand, and we do hope that you will be willing and  
20 able to participate in these meetings.

21 Dr. Cox, who's the director of the Office of  
22 Antimicrobial Products, could not join us for

1 today's meeting as he's attending another meeting  
2 downtown. He sends you his best wishes and  
3 appreciates all your contributions and efforts as  
4 well.

5 Next, I would like to thank all the  
6 committee members for very useful advice and robust  
7 discussion during today's meeting. I have to admit  
8 that we have kept you very busy over the last three  
9 weeks, with this being the fourth advisory  
10 committee within a three-week span.

11 Some of you have been kind enough to  
12 participate in all of our meetings, so special  
13 thanks to those of you who could do that,  
14 particularly noteworthy given that they occurred  
15 over the summer. So please accept our sincere  
16 appreciation for your commitment to this process.

17 Our thanks also to the Paratek team for  
18 their work on these new drug applications; thanks  
19 to the speakers at the open public hearing; and  
20 many thanks to the FDA review team for all their  
21 hard work as well.

22 Safe travels, and we hope we can give you a

1 bit of a break in the ensuing few months.

2 **Adjournment**

3 DR. BADEN: Thank you. And I would like to  
4 echo Dr. Nambiar's appreciation to Dr. Honegger,  
5 Lo Re, Daskalakis, and Corbett. Your contributions  
6 have been tremendous and tremendously valued.

7 Some of the committee members have suggested  
8 that we take a photo, so for those who have a  
9 couple of minutes, if we can come up front, we'll  
10 figure out how to take a quick photo. But I do not  
11 want to delay anyone else's travel plans. Thank  
12 you all for your participation.

13 (Whereupon, at 1:31 p.m., the meeting was  
14 adjourned.)

15  
16  
17  
18  
19  
20  
21  
22