

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ANTIMICROBIAL DRUGS ADVISORY COMMITTEE (AMDAC)

Thursday, July 12, 2018
8:00 a.m. to 3:35 p.m.

FDA White Oak Campus
Building 31, the Great Room
10903 New Hampshire Avenue
Silver Spring, Maryland

1 **Meeting Roster**

2 **ACTING DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Kalyani Bhatt, BS, MS**

4 Division of Advisory Committee and Consultant

5 Management

6 Office of Executive Programs, CDER, FDA

7

8 **ANTIMICROBIAL DRUGS ADVISORY COMMITTEE MEMBERS**

9 **(Voting)**

10 Lindsey R. Baden, MD

11 *(Chairperson)*

12 Director of Clinical Research

13 Division of Infectious Diseases

14 Brigham and Women's Hospital

15 Director, Infectious Disease Service

16 Dana-Farber Cancer Institute

17 Associate Professor, Harvard Medical School

18 Boston, Massachusetts

19

20

21

22

1 **Dean A. Follmann, PhD**

2 Assistant Director for Biostatistics

3 Chief Biostatistics Research Branch

4 National Institute of Allergy and Infectious Diseases

5 National Institutes of Health

6 Bethesda, Maryland

7

8 **Michael Green, MD, MPH**

9 Professor of Pediatrics, Surgery and Clinical &

10 Translational Science

11 University of Pittsburgh School of Medicine

12 Division of Infectious Diseases

13 Director, Antimicrobial Stewardship & Infection

14 Prevention

15 Co-Director, Transplant Infectious Diseases

16 Children's Hospital of Pittsburgh

17 Pittsburgh, Pennsylvania

18

19

20

21

22

1 **Barbara M. Gripshover, MD** (via phone)

2 Associate Professor of Medicine

3 University Hospitals Cleveland Medical Center

4 Case Western Reserve University

5 Division of Infectious Diseases and HIV Medicine

6 Cleveland, Ohio

7

8 **Vincent Lo Re, MD, MSCE**

9 Associate Professor of Medicine and Epidemiology

10 Division of Infectious Diseases

11 Department of Medicine

12 Center for Clinical Epidemiology and Biostatistics

13 Perelman School of Medicine

14 University of Pennsylvania

15 Philadelphia, Pennsylvania

16

17 **Ighovwerha Ofotokun, MD, MSc**

18 Professor of Medicine

19 Division of Infectious Diseases

20 Department of Medicine

21 Emory University School of Medicine

22 Atlanta, Georgia

1 **Peter Weina, MD, PhD, FACP, FIDSA**

2 Colonel, Medical Corps, USA

3 Chief, Department of Research Programs

4 Walter Reed National Military Medical Center

5 Division of Education, Training and Research

6 Bethesda, Maryland

7

8 **TEMPORARY MEMBERS (Voting)**

9 **Warren B. Bilker, PhD**

10 Professor of Biostatistics

11 Department of Biostatistics and Epidemiology

12 Perelman School of Medicine

13 University of Pennsylvania

14 Philadelphia, Pennsylvania

15

16 **Josh A. Mailman, MBA**

17 *(Patient Representative)*

18 Oakland, California

19

20 **Thomas A. Moore, MD, FACP, FIDSA**

21 ID Consultants, PA

22 Wichita, Kansas

1 **Michele J. Orza, ScD**

2 *(Acting Consumer Representative)*

3 Chief of Staff

4 Patient-Centered Outcomes

5 Research Institute (PCORI)

6 Washington, District of Columbia

7

8 **Kathrine R. Tan, MD MPH**

9 Division of Parasitic Diseases and Malaria/

10 Malaria Branch

11 Centers for Disease Control and Prevention

12 Atlanta, Georgia

13

14 **Julie M. Zito, PhD**

15 Professor of Pharmacy and Psychiatry

16 University of Maryland, Baltimore

17 Pharmaceutical Health Services Department

18 Baltimore, Maryland

19

20

21

22

1 **ACTING INDUSTRY REPRESENTATIVE TO THE ANTIMICROBIAL**
2 **DRUGS ADVISORY COMMITTEE (Non-Voting)**

3 **Ercem S. Atillasoy, MD**

4 *(Acting Industry Representative)*

5 Vice President, Global Regulatory Affairs and
6 Clinical Safety

7 Merck & Company, Inc.

8 North Wales, Pennsylvania

9
10 **FDA PARTICIPANTS (Non-Voting)**

11 **Edward Cox, MD, MPH**

12 Director

13 Office of Antimicrobial Products (OAP)

14 Office of New Drugs (OND), CDER, FDA

15
16 **Sumathi Nambiar, MD, MPH**

17 Director

18 Division of Anti-Infective Products (DAIP)

19 Office of Antimicrobial Products (OAP)

20 OND, CDER, FDA

21

22

1 **Yuliya Yasinskaya, MD**

2 Clinical Team Leader

3 DAIP, OAP, OND, CDER, FDA

4

5 **Sheral Patel, MD**

6 Medical Officer

7 DAIP, OAP, OND, CDER, FDA

8

9 **Xianbin Li, PhD**

10 Statistical Reviewer

11 Division of Biometrics IV (DBIV)

12 Office of Biostatistics (OB)

13 Office of Translational Sciences (OTS), CDER, FDA

14

15

16

17

18

19

20

21

22

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Lindsey Baden, MD	11
5	Conflict of Interest Statement	
6	Kalyani Bhatt, BS, MS	15
7	FDA Opening Remarks	
8	Yuliya Yasinskaya, MD	19
9	Applicant Presentations - GlaxoSmithKline	
10	Introduction	
11	Joerg-Peter Kleim, PhD	27
12	Unmet Need	
13	Kevin Baird, PhD	32
14	Study Design and Clinical Efficacy	
15	Justin Green, MD	42
16	Safety Results	
17	Alison Webster, MD	53
18	Clinical Perspective	
19	Richard Price, MD	67
20	Clarifying Questions	76
21		
22		

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	FDA Presentations	
4	Presentation of Clinical Efficacy	
5	Xianbin Li, PhD	119
6	Presentation of Clinical Safety	
7	Elizabeth O'Shaughnessy, MB, BCh	130
8	Clarifying Questions	149
9	Open Public Hearing	203
10	Clarifying Questions (continued)	240
11	Questions to the Committee and Discussion	266
12	Adjournment	303
13		
14		
15		
16		
17		
18		
19		
20		
21		
22		

1 P R O C E E D I N G S

2 (8:00 a.m.)

3 **Call to Order**

4 **Introduction of Committee**

5 DR. BADEN: Good morning. I would first
6 like to remind everyone to please silence your cell
7 phones, smartphones, and any other devices that
8 make noise if you've not already done so. I would
9 also like to identify the FDA press contact,
10 Theresa Eisenman. If you are present, please
11 stand. She's in the back left.

12 I'm Dr. Lindsey Baden. I'm chairperson of
13 the Antimicrobial Drug Advisory Committee and I'll
14 be chair this meeting. I will now call this meeting
15 to order. We'll start by going around the table
16 and introduce ourselves. We'll start with the FDA
17 on the far left.

18 DR. COX: Good morning. Ed Cox, director of
19 the Office of Antimicrobial Products, CDER, FDA.

20 DR. NAMBIAR: Good morning. Sumathi
21 Nambiar, director, Division of Anti-Infective
22 Products, CDER, FDA.

1 DR. YASINSKAYA: Good morning. Yuliya
2 Yasinskaya, medical team leader, Division of Anti-
3 Infective Products at the FDA.

4 DR. O'SHAUGHNESSY: Good morning. Elizabeth
5 O'Shaughnessy, medical officer, CDER, FDA.

6 DR. LI: Good morning. This is Xianbin Li,
7 a statistical reviewer from the FDA.

8 DR. FOLLMAN: Dean Follmann, head of
9 biostatistics at the National Institute of Allergy
10 and Infectious Diseases.

11 DR. BADEN: Please remember to turn on and
12 off your mics when you talk. Thank you. This is
13 for the record.

14 DR. HONEGGER: Jonathan Honegger, pediatric
15 infectious diseases, Ohio State University,
16 Nationwide Children's Hospital.

17 DR. CLARK: Nina Clark, infectious diseases,
18 Loyola University Medical Center, Maywood,
19 Illinois.

20 DR. GRIPSHOVER: Barb Gripshover. I'm in
21 adult infectious disease at Case Western Reserve
22 University, University Hospitals of Cleveland.

1 MS. BHATT: Good morning. Kalyani Bhatt.
2 I'm with the Division advisory committee
3 consultant's management. I'm the acting DFO for
4 this meeting.

5 DR. BADEN: Lindsey Baden. I'm at Brigham
6 and Women's Hospital, Dana-Farber Cancer Institute,
7 and Harvard Medical School in Boston, adult
8 infectious diseases.

9 DR. WEINA: Peter Weina. I'm in infectious
10 diseases at the Walter Reed National Military
11 Medical Center.

12 DR. GREEN: Michael Green, University of
13 Pittsburgh, and Children's Hospital, Pittsburgh,
14 pediatric infectious diseases.

15 DR. ORZA: Michele Orza with the Patient-
16 Centered Outcomes Research Institute. I'm the
17 acting consumer representative today.

18 MR. MAILMAN: Josh Mailman. I'm a patient
19 advocate, a patient representative for today.

20 DR. MOORE: Dr. Tom Moore. I'm an
21 infectious disease physician at the University of
22 Kansas in Wichita.

1 DR. BEYRER: Chris Beyrer, infectious
2 diseases, epidemiologist at the Johns Hopkins
3 Bloomberg School of Public Health in Baltimore.

4 DR. ZITO: Julie Zito, pharmacoepidemiology,
5 University of Maryland.

6 DR. KARTSONIS: Nick Kartsonis. I'm in
7 adult infectious disease. I help to lead clinical
8 research in infectious diseases and vaccines at
9 Merck and I serve as the industry rep on the
10 committee.

11 DR. BADEN: Thank you all for making the
12 time to join us today. For topics such as those
13 being discussed at today's meeting, there are often
14 a variety of opinions, some of which are quite
15 strongly held.

16 Our goal is that today's meeting will be a
17 fair and open forum for discussion of these issues
18 and that individuals can express their views
19 without interruption. Thus, as a gentle reminder,
20 individuals will be allowed to speak into the
21 record only if recognized by the Chairperson. We
22 look forward to a productive meeting.

1 In the spirit of the Federal Advisory
2 Committee Act and the Government in the Sunshine
3 Act, we ask that the advisory committee members
4 take care that their conversations about the topics
5 at hand take place in the open forum of this
6 meeting.

7 We are aware that members of the media are
8 anxious to speak with the FDA about these
9 proceedings. However, the FDA will refrain from
10 discussing the details of this meeting with the
11 media until its conclusion. Also, the committee is
12 reminded to please refrain from discussing the
13 meeting topic during breaks or lunch. Thank you.

14 Now, I'll pass it to Kalyani Bhatt, who will
15 read the conflicts of interest statement.

16 **Conflict of Interest Statement**

17 MS. BHATT: Good morning. The Food and Drug
18 Administration is convening today's meeting of the
19 Antimicrobial Drugs Advisory Committee under the
20 authority of the Federal Advisory Committee Act of
21 1972. With the exception of the industry
22 representative, all members and temporary voting

1 members of the committee are special government
2 employees or regular federal employees from other
3 agencies and are subject to federal conflict of
4 interest laws and regulations.

5 The following information on the status of
6 this committee's compliance with federal ethics and
7 conflict of interest laws, covered by but not
8 limited to those found at 18 U.S.C., Section 208,
9 is being provided to participants in today's
10 meeting and to the public.

11 FDA has determined that members and
12 temporary voting members of this committee are in
13 compliance with federal ethics and conflict of
14 interest laws.

15 Under 18 U.S.C., Section 208, Congress has
16 authorized FDA to grant waivers to special
17 government employees and regular federal employees
18 who have potential financial conflicts when it is
19 determined that the agency's need for a particular
20 individual's services outweighs his or her
21 potential financial conflict of interest or when
22 the interests of a regular federal employee is not

1 so substantial as to be deemed likely to affect the
2 integrity of the services which the government may
3 expect from the employee.

4 Related to the discussion of today's
5 meeting, members and temporary members of this
6 committee have been screened for potential
7 financial conflicts of interest of their own as
8 well as those imputed to them, including those of
9 their spouses or minor children, and for purposes
10 of 18 U.S.C. Section 208 their employers.

11 These interests may include investments,
12 consulting, expert witness testimony, contracts,
13 grants, CRADAs, teaching, speaking, writing,
14 patents and royalties, and primary employment.

15 Today's agenda involves discussion of new
16 drug application NDA 210795, tafenoquine tablet,
17 150 milligrams, sponsored by GlaxoSmithKline
18 Intellectual Property Development, England, for the
19 proposed indication of radical cure, prevention of
20 relapse of plasmodium vivax malaria.

21 This is a particular matters meeting, during
22 which specific matters related to GlaxoSmithKline's

1 NDA will be discussed. Based on the agendas for
2 today's meeting and all financial interests
3 reported by the committee members and temporary
4 voting members, no conflict of interest waivers
5 have been issued in connection with this meeting.

6 To ensure transparency, we encourage all
7 standing committee members and temporary voting
8 members to disclose any public statements that they
9 have made concerning the product at issue.

10 With respect to FDA's invited industry
11 representative, we would like to disclose that
12 Dr. Nicholas Kartsonis is participating in this
13 meeting as a non-voting industry representative
14 acting on behalf of regulated industry.
15 Dr. Kartsonis' role at this meeting is to represent
16 industry in general and not any particular company.
17 Dr. Kartsonis is employed by Merck and Company.

18 We would like to remind members and
19 temporary voting members that, if the discussions
20 involve any other products or firms not already on
21 the agenda for which an FDA participant has a
22 personal or imputed financial interest,

1 participants need to exclude themselves from such
2 involvement and their exclusion will be noted for
3 the record.

4 FDA encourages all participants to advise
5 the committee of any financial relationships they
6 may have with the firm at issue. Thank you.

7 DR. BADEN: We will now proceed with the
8 FDA's introductory remarks from Dr. Yasinskaya.

9 **FDA Opening Remarks**

10 DR. YASINSKAYA: Good morning, again. My
11 name is Yuliya Yasinskaya, medical team leader from
12 the Division of Anti-infectives, CDER, FDA. I will
13 provide you a summary and overview for the day.

14 The subject of today's advisory committee is
15 tafenoquine tablet, 100 milligrams. The NDA is
16 210795. The indication is radical cure of
17 plasmodium vivax malaria.

18 The applicant for this application is
19 GlaxoSmithKline. The product was given orphan and
20 breakthrough therapy designations for the
21 indication being sought. The application was also
22 granted priority review. I would like to remind

1 you that primaquine, 15 milligrams daily for 14
2 days, is the only approved drug for the radical
3 cure of plasmodium vivax malaria in the United
4 States.

5 So the proposed dosing regimen for this
6 indication is 300 milligrams, which is 2
7 100-milligram tablets on the first or second day of
8 appropriate therapy for acute plasmodium vivax
9 malaria, such as chloroquine.

10 The development program for this indication
11 included 3 randomized, double-blind, controlled
12 efficacy and safety trials and 2 trials compared to
13 tafenoquine, 300-milligram single dose in
14 combination with chloroquine to chloroquine alone.
15 Trial 582, part 1, was a dose-ranging phase 2b
16 trial and 582, part 2, was an independent phase 3
17 trial.

18 DR. BADEN: We're going to take a two-minute
19 break to correct an embedded automated feature
20 that's disrupting the slides.

21 (Pause.)

22 DR. YASINSKAYA: Going back to the

1 development program, starting --

2 DR. BADEN: I think they're still correcting
3 something.

4 DR. YASINSKAYA: Really?

5 DR. BADEN: But while they do that, we can
6 have Dr. Tan, who has joined us, introduce herself
7 for the record. Thank you for joining us.

8 DR. TAN: I am Dr. Katherine Tan. I am the
9 unit chief for the domestic response unit in the
10 malaria branch at CDC.

11 DR. BADEN: Thank you.

12 (Pause.)

13 DR. BADEN: This is a free lesson in
14 PowerPoint nuance for all of us, as we watch
15 experts solve the problem.

16 So we shall resume and, if the problem
17 persists, we only have a few more slides before we
18 go to the applicant's presentation. And we can
19 make sure there are no other embedded features. So
20 please resume. Thank you. Please resume and try
21 your best to navigate it for four or five more
22 slides. And then we'll address it while the

1 applicant is presenting.

2 DR. YASINSKAYA: Sounds good. So going back
3 to the development program, again, 3 randomized
4 double-blind active and placebo-controlled efficacy
5 and safety trials were conducted. Two of the
6 trials compared tafenoquine in combination with
7 chloroquine to chloroquine alone.

8 Trial 582, part 1, was a phase 2b dose-
9 ranging trial and 582, part 2 was a phase 3,
10 independent efficacy trial. A safety trial, 564,
11 compared a combination of tafenoquine,
12 300-milligram single dose with chloroquine to a
13 combination of primaquine, 14 days, plus
14 chloroquine. And this trial had provided support
15 of efficacy information.

16 Three safety studies were also part of the
17 applications and the safety trials were conducted
18 in healthy volunteers and included ophthalmic
19 safety study, thorough QT study, and hematologic
20 safety study in G6PD-normal and deficient
21 individuals.

22 This slide provides an overview of efficacy

1 findings in two trials, 582, part 1 and 2,
2 tafenoquine, 300-milligram single dose in
3 combination with chloroquine was superior to
4 chloroquine alone for the relapse-free efficacy
5 endpoint assessed at 6 months.

6 In part 1, the difference between the two
7 arms was 52 percent, which was statistically
8 significant. In part 2, the difference was 33
9 percent, which was also statistically significant.

10 In the supportive trial, 564, tafenoquine,
11 300-milligram single dose in combination with
12 chloroquine, was compared to primaquine-chloroquine
13 combination for the relapse-free efficacy endpoint
14 assessed at 6 months.

15 For the combination of chloroquine and
16 tafenoquine, the relapse-free efficacy was 67
17 percent. For the chloroquine-primaquine, it was 71
18 percent with a difference of minus 3.4 percent.

19 With regards to safety, 493 plasmodium vivax
20 malaria patients were exposed to tafenoquine,
21 300-milligram single dose in combination with
22 chloroquine. The safety issue that had been

1 identified in this patient population was hemolysis
2 decreases in hemoglobin and increases in
3 methemoglobin levels.

4 In healthy volunteer studies, 401 subjects
5 were exposed to tafenoquine at 300 milligrams,
6 single dose. In this patient population, all saw
7 hemolysis decreases in hemoglobin and increased
8 levels of methemoglobin were identified, which were
9 dose dependent and dependent on the level of G6PD.

10 There were also 2 cases of hypersensitivity
11 identified, and 2 cases of depression, and 2 cases
12 of psychosis in this patient population.

13 Tafenoquine, 300-milligram single dose had no
14 ocular safety or QT prolongation liability.

15 So for today, my presentation will be
16 followed by the presentation by the applicant and
17 then by presentations by the FDA. Efficacy will be
18 covered by Dr. Xianbin Li and safety will be
19 covered by Elizabeth O'Shaughnessy.

20 The presentation from the FDA will be
21 followed by clarifying questions. After lunch,
22 we'll have open public hearing followed by

1 questions to the committee. We have two questions
2 for the committee today. Question 1 deals with
3 efficacy. Has applicant provided substantial
4 evidence of effectiveness of tafenoquine for the
5 radical cure, prevention of relapse of plasmodium
6 vivax malaria in patients 16 years of age and
7 older.

8 If the committee finds that substance
9 evidence has been provided, we ask the committee to
10 provide any additional recommendations concerning
11 labeling. If the answer to this question is no, we
12 would like the committee to discuss what additional
13 studies or analysis need to be conducted.

14 For question 1, we ask the committee to vote
15 whether applicant has provided adequate evidence of
16 safety of tafenoquine for the radical cure,
17 prevention of relapse of plasmodium vivax malaria
18 in patients 16 years of age and older.

19 If the committee finds that such evidence
20 had been provided, we would like you to discuss any
21 recommendation concerning labeling. If the
22 evidence was not provided, we'd like you to discuss

1 any additional studies or analyses that are needed.

2 Thank you.

3 DR. BADEN: Thank you, Dr. Yasinskaya.

4 Both the FDA and the public believe in a
5 transparent process for information gathering and
6 decision making. To ensure such transparency at
7 the advisory committee meeting, FDA believes that
8 it is important to understand the context of an
9 individual's presentation.

10 For this reason, FDA encourages all
11 participants, including the applicant's non-
12 employee presenters, to advise the committee of any
13 financial relationships that they may have with the
14 applicant such as couple things fees, travel
15 expenses, honoraria, and interest in a sponsor,
16 including equity interests and those based upon the
17 outcome of the meeting.

18 Likewise, FDA encourages you, at the
19 beginning of your presentation, to advise the
20 committee if you do not have any such financial
21 relationships. If you choose not to address this
22 issue of financial relationships at the beginning

1 of your statement, it will not preclude you from
2 speaking. We will now proceed with
3 GlaxoSmithKline's presentations.

4 Dr. Kleim?

5 **Applicant Presentation - Joerg-Peter Kleim**

6 DR. KLEIM: Good morning, Mr. Chairman,
7 members of the committee, and the FDA. I am Joerg-
8 Peter Kleim and I'm the medicine development leader
9 for tafenoquine at GlaxoSmithKline. I want to
10 thank you for the opportunity to present the data
11 supporting the safety and efficacy of a single dose
12 of tafenoquine, 300 milligram, for the prevention
13 of relapse or radical cure of P. vivax malaria.

14 There is a global effort to eradicate
15 malaria, which is a significant public health
16 problem around the world. In collaboration with
17 the not-for-profit Medicines for Malaria venture,
18 we are developing tafenoquine as part of our global
19 health program.

20 Should tafenoquine be approved by the FDA,
21 it will also be an effective option for the orphan
22 population of patients with P. vivax in the United

1 States. Tafenoquine would assist with malaria
2 eradication efforts as the program aligns with U.S.
3 and global initiatives to end malaria worldwide.

4 Today, we will demonstrate that a single
5 tafenoquine dose of 300 milligram in combination
6 with standard doses of chloroquine is an
7 efficacious treatment for relapse prevention of
8 *P. vivax* malaria. Tafenoquine demonstrates this
9 efficacy while maintaining a similar safety profile
10 to the currently approved standard of care.

11 If approved, tafenoquine would afford high
12 treatment compliance and it would be the first new
13 treatment for prevention of relapse of *P. vivax*
14 malaria in more than 60 years. While the molecular
15 mechanism of action of tafenoquine is unknown, we
16 do know that it inactivates the dormant liver
17 stage. *P. vivax* can hide in the liver of infected
18 people and it can be undetectable for weeks or
19 months after initial infection.

20 The dormant liver stage causes malaria. It
21 relapses in infected patients without the need for
22 another infected mosquito bite. The ability to

1 relapse not only affects individual patients, but
2 also makes *P. vivax* more difficult to control and
3 eliminate than other human malaria parasites.

4 Right now, tafenoquine is the only medicine
5 that inactivates the *P. vivax* dormant liver stage
6 and prevents relapse. It has to be taken for 14
7 days and treatment compliance can therefore be
8 difficult. Tafenoquine offers a novel single-dose
9 treatment for relapse prevention.

10 Tafenoquine is an 8-aminoquinoline and a
11 synthetic analog of primaquine. Tafenoquine is
12 absorbed slowly with maximum plasma concentrations
13 observed after 12 to 15 hours of dosing. It has a
14 plasma half-life of 15 days, which is what provides
15 the potential for more convenient dosing.

16 Tafenoquine was originally discovered by the Walter
17 Reed Army Institute of Research.

18 Since then, a total of 33 completed or
19 ongoing clinical studies have been conducted, 13 of
20 which support the radical cure indication.

21 Tafenoquine's clinical safety and efficacy are
22 supported by 3 randomized double-blind studies.

1 The pivotal study is study 582 part 2, with study
2 582 part 1 and study 564 as supportive studies.

3 Cases reported in the United States are
4 rare, mostly occurring in returning travelers from
5 malaria endemic countries. Therefore, the 3
6 studies were conducted outside the U.S. 20
7 additional studies in other malaria indications
8 were analyzed for safety.

9 We have not seen any regional or ethnic
10 differences in the clinical pharmacology program,
11 which included more than 400 U.S. subjects.
12 Tafenoquine was granted orphan drug status in
13 January 2013. Based on positive data from part 1,
14 tafenoquine was granted breakthrough therapy
15 designation later that year.

16 The proposed indication is for the radical
17 cure, that is, the prevention of relapse of
18 *P. vivax* malaria in patients aged 16 years and
19 older. The recommended dosing administration is a
20 single 300-milligram dose co-administered with
21 chloroquine.

22 I would now like to provide you with a brief

1 overview of the data we're going to present today.
2 In the pivotal study, treatment with a single dose
3 of tafenoquine, 300 milligram, co-administered with
4 a standard course of chloroquine resulted in a
5 clinically and statistically significant reduction
6 in the risk of recurrence of P. vivax at 6 months
7 by 70 percent compared to chloroquine alone.
8 Tafenoquine efficacy was consistent in the
9 supportive studies.

10 The overall safety profile of tafenoquine at
11 the recommended dose was acceptable and broadly
12 similar to a 14-day regimen with primaquine.

13 The primary safety risk of tafenoquine is
14 the same as that of primaquine, which is that both
15 drugs may cause hemolysis in patients with G6PD
16 deficiency. In alignment with primaquine, we plan
17 to manage this risk with appropriate labeling and
18 G6PD testing before treatment. In fact, applying
19 G6PD testing, there were no cases of clinical
20 hemolysis in our development program.

21 We also looked at other safety events of
22 interest and there was no increased risk of

1 clinically relevant events with a proposed single
2 300-milligram dose of tafenoquine. With this
3 information in mind, here's the agenda for the
4 remainder of our presentation. Next, Dr. Kevin
5 Baird will provide his perspective on the unmet
6 need in the treatment of P. vivax malaria.

7 Dr. Justin Green will follow with a review
8 of the study designs and efficacy results of all
9 key studies. Dr. Alison Webster will then present
10 the safety results and Dr. Rick Price will conclude
11 with his clinical perspective on the benefit-risk
12 profile.

13 We also have additional responders with us
14 today to help answer your questions. Thank you. I
15 will now invite Dr. Baird to give his perspective
16 on the unmet need.

17 **Applicant Presentation - Kevin Baird**

18 DR. BAIRD: Thank you, Dr. Kleim.

19 Good morning. My name is Kevin Baird. I'm
20 a professor of malariology in the Nuffield
21 Department of Medicine, University of Oxford in the
22 United Kingdom.

1 I am posted in Jakarta, Indonesia, where I
2 serve as the principal investigator for clinical
3 trials of P. vivax aimed at improving the
4 prevention and treatment of this particular and
5 uniquely complex malaria.

6 Currently, I'm running another clinical
7 trial with tafenoquine as well. By way of
8 conflicts, I have no financial interest in the
9 company, but they have compensated me for my time
10 and travel. This heat map of the prevalence of
11 plasmodium vivax illustrates the most
12 geographically widespread malaria, causing a very
13 significant clinical and public health threat all
14 across the globe.

15 Although plasmodium vivax has been
16 successfully eliminated in many parts of the world,
17 it may erupt as widespread epidemic malaria, as is
18 now happening in Venezuela and may reappear as
19 stable endemic malaria, where it had once been
20 eliminated, as occurred on the Korean Peninsula.

21 Absolute numbers of cases are difficult to
22 ascertain. Estimates range between less than 10

1 million to more than 100 million. But we may be
2 confident in the estimate of 2.5 billion people
3 living at risk of this infection. That's 40
4 percent of humanity. More than 90 percent of this
5 burden occurs in the Asia-Pacific region.

6 In the Americas, more than half of malaria
7 cases are caused by plasmodium vivax. During each
8 of the past 30 years, the U.S. CDC has consistently
9 reported hundreds of cases of vivax malaria
10 diagnosed in the United States, almost all of those
11 occurring in travelers or visitors.

12 While rare, these imported cases sometimes
13 lead to local transmission by American anopheles,
14 mosquitos. There have been 63 such outbreaks of
15 malaria in the United States since 1957 with annual
16 reports of 1 to 32 individuals affected. The most
17 recent report of an outbreak was just last year in
18 a family in Houston, TX.

19 Although these locally acquired mosquito-
20 transmitted outbreaks frequently involve only a
21 limited number of infected persons, they raise
22 legitimate concerns in the community and require

1 substantial public health resources to avert
2 broadening of those outbreaks. Plasmodium vivax
3 malaria is a parasitic disease transmitted by
4 mosquitos in the genus anopheles.

5 Once a patient has been infected, an
6 incubation period of approximately 2 weeks occurs
7 as active liver stages develop without symptoms.
8 In humans, the infection consists of both a liver
9 and a blood stage. It is the subsequent infection
10 of the red blood cells that provoke the symptoms of
11 an acute attack of malaria.

12 Some of the liver-stage parasites do not
13 immediately develop like that. Instead, they
14 become dormant hypnozoites. These parasites are
15 wholly silent and cannot be detected by any
16 diagnostic means. Days, months, or even years
17 later, hypnozoites in the liver can awaken and
18 cause a renewed attack of acute malaria, called a
19 relapse.

20 If a patient does not receive specific
21 therapy against hypnozoites, they often suffer 6 or
22 more relapses within the first year. Each one of

1 those comes with acute illness and opportunity for
2 transmission to the people around them.

3 The symptoms of acute vivax malaria include
4 daily bouts of shaking chills followed by a spiking
5 fever, accompanied by vomiting, malaise, headache,
6 and myalgia. Although historically regarded as
7 causing a benign and self-limiting course of
8 illness, there is increasing evidence that the
9 severity of disease, overall burden, and economic
10 impact of *P. vivax* malaria have been greatly
11 underestimated.

12 Even today, severe and fatal infections
13 occur with delayed or inadequate therapy. Splenic
14 rupture, although rare, has long been recognized as
15 a life-threatening complication of vivax malaria.
16 More recently, a diagnosis of vivax malaria has
17 been associated with severe disease syndromes.

18 In a review of more than 12,000 cases of
19 vivax malaria in travelers returning to the United
20 States, .1 percent of those cases resulted in death
21 and 1.3 of those were classified as severe. The
22 case fatality rates for severe vivax and falciparum

1 malaria were statistically indistinguishable.

2 Vivax malaria is not an inconsequential
3 infection. Unfortunately, a diagnosis of vivax
4 malaria often comes with a very high risk of
5 multiple relapses and thus imposes a clinical
6 threat if the liver stage is not adequately
7 treated.

8 This graphic illustrates a study of American
9 soldiers returned to the United States after
10 exposure in the Pacific. These data illustrate
11 what may occur without therapy against relapse as
12 primaquine was not available for use until 1952.

13 Among more than 2,400 diagnosed with vivax
14 malaria, a median of 10 to 14 relapses occurred in
15 each soldier within 2 years, each relapse being an
16 acute attack, and another opportunity for a poor
17 clinical outcome, and onward transmission.

18 At the time, repatriated soldiers carrying
19 hypnozoites were acknowledged and recognized as
20 threatening the reintroduction of recently
21 eliminated malaria transmission in the United
22 States. This is not just history. Recent work

1 shows similar trends in relapse and transmission
2 when the liver stage is not effectively treated.

3 The frequency of clinical attacks caused by
4 relapse has been shown to be greater than 80
5 percent, causing a serious public health threat
6 from an untreated hypnozoite reservoir. In a study
7 at the Thai-Myanmar border, 98 percent of clinical
8 attacks of *P. vivax* were actually derived from
9 dormant hypnozoites in the liver rather than new
10 cases, that is, a fresh mosquito bite.

11 Similar longitudinal cohort studies in Papua
12 New Guinea, illustrated in this graph, the red
13 Kaplan-Meier curve, estimating force of
14 reinfection, versus the blue of force of
15 reinfection and relapse combined. Estimated 82
16 percent of attacks derived from hypnozoites.

17 That is the difference between the blue and
18 red curves seen here and assumes 100 percent
19 efficacy of radical cure among those randomized to
20 the primaquine treatment group. Not properly
21 treating this by effective radical cure accounts
22 for most of the morbidity and mortality associated

1 with acute attacks of vivax malaria in endemic
2 areas.

3 Plasmodium vivax is thus refractory to
4 conventional elimination efforts. Untreated
5 hypnozoites stream fresh attacks into communities,
6 causing outbreaks, epidemics, or reintroduced
7 endemic malaria in areas which have previously
8 eliminated it.

9 Suppressive chemoprophylaxis is widely
10 available in the United States, but these drugs
11 have no impact on dormant hypnozoites. Attacks of
12 vivax malaria often occur months after returning
13 from travel. When these occur with seasonally
14 abundant American mosquitos, real risk of outbreaks
15 or even epidemics is incurred.

16 Achieving radical cure of each diagnosis of
17 P. vivax malaria by successfully eliminating both
18 the blood and the liver stages of this infection is
19 a therapeutic goal with profound clinical and
20 public health benefits. Most antimalarials in the
21 United States are approved and effective for
22 suppressive chemoprophylaxis or for treatment of

1 only the blood stage of the infection responsible
2 for acute malaria.

3 The preferred treatment for acute malaria is
4 a three-day regimen of chloroquine. Other
5 treatment options are available for treating
6 chloroquine-resistant vivax malaria or when
7 chloroquine is not readily available.

8 8-aminoquinolines are the only class of drugs
9 effective against the dormant liver stage.
10 Currently, the only approved 8-aminoquinoline is
11 primaquine, which is administered once daily for 14
12 days. While primaquine is an efficacious
13 treatment, its effectiveness depends on adherence
14 to the full 14-day treatment regimen.

15 This graphic illustrates a very important
16 fact of primaquine therapy. The upper dashed line
17 shows the risk of relapse after unsupervised 14-day
18 therapy compared to the lower line showing use
19 under directly observed therapy.

20 Complete compliance with primaquine
21 treatment is reported to be as low as 30 percent
22 when patients are not supervised. It has been

1 estimated that primaquine efficacy is reduced
2 three- to fourfold when as few as 3 out of the 14
3 doses are missed.

4 This problem of poor effectiveness often
5 amounts to inadequate access to safe and effective
6 therapy for vivax malaria. Like all compounds of
7 the 8-aminoquinoline class, primaquine can cause
8 hemolysis in patients with a deficiency in glucose-
9 6-phosphate dehydrogenase enzyme, G6PD. This is an
10 identified risk that can be mitigated by G6PD
11 screening before treatment.

12 The prevalence of G6PD deficiency is high in
13 many malaria endemic areas, averaging about 8
14 percent. The condition is sex linked. Males carry
15 one copy of the G6PD gene and are either wholly
16 normal or deficient. Females carry two copies and
17 may be homozygous or heterozygous for G6PD
18 deficiency.

19 Although access to G6PD screening is
20 problematic in rural areas of the developing
21 nations, effective diagnostic tests for G6PD
22 deficiency are readily available in the United

1 States. Primaquine has been safely used here for
2 more than 65 years.

3 In summary, without treatment to eliminate
4 the dormant liver stage of plasmodium vivax,
5 patients may suffer from multiple repeated bouts of
6 acute malaria, each clinical event carrying
7 significant risk of a poor outcome and infection of
8 others in their communities.

9 While primaquine is efficacious in
10 preventing relapse, poor adherence to the 14-day
11 regimen and safety concerns regarding unknown G6PD
12 status limits its effectiveness and this has
13 important clinical and global health consequences.
14 Therefore, there is an unmet need for a simple
15 single-dose regimen of P. vivax malaria.
16 Importantly, successful attack on the hypnozoite
17 reservoir of P. vivax is likely to result in the
18 collapse of endemic transmission of this parasite.
19 Thank you. I will now invite Dr. Green to the
20 lectern to discuss the efficacy results.

21 **Applicant Presentation - Justin Green**

22 DR. GREEN: Thank you, Dr. Baird.

1 My name is Justin Green and I'm the project
2 physician for tafenoquine GSK. I've worked on
3 tafenoquine since 2010. I'm trained in infectious
4 diseases and have had a long career in global
5 health.

6 Because of the treatment gap for radical
7 cure of *P. vivax*, GSK has partnered with MMV to
8 develop a simple single-dose treatment and I'm
9 pleased to share with you today the key efficacy
10 and clinical pharmacology data from our late-stage
11 radical cure clinical program.

12 As Dr. Kleim mentioned, the primary evidence
13 supporting the clinical efficacy of tafenoquine is
14 provided by 3 randomized double-blind studies.
15 We've designed a set of studies that allows us to
16 understand the efficacy of tafenoquine given that
17 there is no biomarker of hypnozoite carriage and
18 therefore a chloroquine-only arm is essential for
19 understanding the true efficacy of our drug.

20 Patients in all groups were treated with
21 chloroquine for 3 days to eradicate the blood stage
22 infection. In addition, we have added a standard

1 of care primaquine as a benchmark for non-
2 statistical comparisons. Part 1 of study 582 was a
3 fully powered dose-finding phase 2b study
4 evaluating tafenoquine doses between 50 and 600
5 milligrams.

6 The pivotal efficacy and the primary focus
7 of today's presentation are the data derived from
8 part 2 of study 582. The design of study 564 is
9 similar to the pivotal study, but was primarily
10 focused on assessing clinically relevant hemoglobin
11 declines. Although there was no placebo arm in
12 this study, recurrence-free efficacy assessments
13 were included as secondary endpoints.

14 As agreed with FDA, we designed the studies
15 to achieve a safety database of approximately 500
16 patients. So let's review the rationale for a
17 300-milligram dose, starting with a summary of
18 tafenoquine clinical pharmacology.

19 Tafenoquine is slowly absorbed following
20 oral administration with maximum concentrations
21 reached approximately 12 to 15 hours post-dose. It
22 should be administered with food in order to

1 increase systemic absorption and improve GI
2 tolerability. The PK profile is linear up to 1,200
3 milligrams and the half-life is long, about 15
4 days, which is a key difference from primaquine.

5 The PK profile is not impacted by factors
6 such as age, weight, or G6PD deficiency. And
7 finally, it is metabolized slowly with no major
8 circulating metabolites. Now, in part 1 of study
9 582, with that primaquine standard of care
10 benchmarking arm, we looked at 4 doses of
11 tafenoquine ranging from 50 up to 600 milligrams,
12 compared to chloroquine alone. This determined
13 that both 300- and 600-milligram doses met the pre-
14 specified efficacy criteria for us in part 2.

15 Although no new safety concerns were
16 identified at either of these two dosages, based on
17 the lack of evidence of any increase in efficacy
18 between 300 and 600 milligrams, the 300-milligram
19 dose was selected for evaluation in the phase 3
20 studies.

21 Let's now move to pivotal study 582 part 2.
22 We designed study 582 part 2 assuming a 90 percent

1 recurrence-free efficacy in the tafenoquine arm
2 with a 30 percent treatment difference to
3 chloroquine alone. However, a greater number of
4 patients were to be enrolled in part 2 than would
5 be required purely to power for efficacy in order
6 to bolster the overall safety database. That's our
7 original sample size with 600 patients.

8 However, recruitment was much slower than
9 expected, particularly in southeast Asia. We think
10 was due to enhanced elimination efforts in the
11 region. In addition, both phase 3 studies were
12 interrupted due to a global shortage of study-grade
13 chloroquine. Therefore, we negotiated a smaller
14 sample size with FDA, but because of the large
15 original sample size, the study was still powered
16 for superiority above 90 percent.

17 Now, focusing on the design of our pivotal
18 study, study 582 was a multicenter, double-blind,
19 placebo-controlled study originally designed in
20 2010. Randomization was center based and patients
21 were allocated to each of the treatment groups in a
22 1 to 2 to 1 ratio.

1 A total of 522 patients were randomized into
2 the study. The chloroquine-alone group was the
3 primary comparator used for statistical testing.
4 In agreement with key stakeholders, the comparison
5 to chloroquine alone was thought to be the best
6 design since the clinical equipoise of chloroquine
7 plus primaquine has never been clearly established.

8 Since primaquine is the standard of care,
9 this treatment group was included as a benchmark
10 for efficacy. All patients were treated with
11 chloroquine on days 1 to 3 to treat the blood-stage
12 malaria infection along with their randomized
13 treatment. Patients were then followed for 6
14 months with regular visits.

15 Any patient who experienced a recurrence
16 during the study was provided radical cure with a
17 primaquine-containing regimen. Patients had to
18 have microscopically diagnosed uncomplicated
19 *P. vivax* malaria, thus without mixed or severe
20 disease at screening. They were at least 16 years
21 of age. Patients had to have a corrected QT
22 interval less than 450 milliseconds and a

1 hemoglobin concentration of at least 70 grams per
2 liter at screening.

3 Additionally, because tafenoquine is an 8-
4 aminoquinoline, patients could not have a G6PD
5 enzyme level less than 70 percent. The primary
6 comparison in the pivotal study was recurrence-free
7 efficacy of chloroquine alone compared to 300
8 milligrams tafenoquine plus chloroquine over 6
9 months. The primary population for all efficacy
10 analyses was the microbiological intent to treat or
11 mITT population.

12 Now, throughout this talk, the term
13 recurrence is used more accurately to reflect our
14 efficacy assessments. This is because parasite
15 genotyping cannot distinguish between a true
16 relapse of the disease from the dormant liver stage
17 and reinfection from a second mosquito vector.

18 The primary endpoint was analyzed using two
19 methodologies, a Kaplan-Meier survival analysis
20 using Cox proportional hazards and time to
21 recurrence as recommended by the World Health
22 Organization in their published guidance document,

1 Protocol in Assessing Antimalarial Drugs.

2 In a categorical analysis preferred by the
3 FDA, using logistic regression, where all patients
4 who either have disease recurrence or an
5 undetermined outcome are imputed as treatment
6 failures, sometimes this is known as missing equals
7 failure analysis.

8 Now, let's look at the results. The study
9 completion rate was very high, in fact greater than
10 95 percent across all treatment groups. The
11 reasons for withdrawals were also balanced, with
12 the most common reasons being loss to follow-up or
13 withdraw by the patient.

14 Demographic characteristics were well
15 balanced across the treatment groups. The mean age
16 was 35 years old and more males were enrolled,
17 which represents the demography of the disease both
18 in endemic countries and broadly in the U.S.
19 malaria population as well.

20 The ethnic background of patients in the
21 study reflected the wide geographical spread of the
22 sites and disease. All patients had a G6PD enzyme

1 level above 70 percent, but of note, we evaluated
2 patients right down to the 70 percent cut-off.

3 Baseline disease was similar across
4 treatment groups, with the common malaria signs and
5 symptoms present in the majority of patients. The
6 majority of patients reported a previous episode of
7 malaria and there was no difference in *P. vivax*
8 counts between treatment groups.

9 Compliance was high in all arms of the
10 study. Specifically, there was 100 percent
11 compliance in the tafenoquine arm and, in this
12 heavily supervised clinical trial setting,
13 compliance with primaquine treatment was also high
14 at 96 percent when assessed by pill count.

15 So let's first look at the survivor analysis
16 of the primary endpoint. The study met its primary
17 efficacy endpoint with a recurrence-free efficacy
18 rate at 6 months of 62.4 percent in the tafenoquine
19 group compared to 27.7 percent with chloroquine
20 alone. Another way of looking at these data is the
21 comparative risk, referred to as a hazard ratio, a
22 hazard ratio of 0.299 for tafenoquine versus

1 chloroquine alone actually means that a patient has
2 a 70 percent reduction in risk of recurrence if
3 treated with tafenoquine plus chloroquine.

4 When we add the primaquine group, we can see
5 very similar rates of efficacy. Thus, the risk of
6 recurrence was also significantly reduced in the
7 primaquine group compared with chloroquine alone.
8 Similar results were observed using the categorical
9 analysis. This also demonstrated that a larger
10 proportion of patients treated with tafenoquine
11 were recurrence free during the first 6 months
12 compared to chloroquine alone with similar results
13 observed in the primaquine group.

14 This resulted in a clinically and
15 statistically significant reduction in risk of
16 recurrence with tafenoquine. All other sensitivity
17 analyses and secondary endpoints support our
18 primary conclusions. We've undertaken a number of
19 additional analyses throughout the clinical program
20 better to understand why patients suffer
21 recurrences despite being dosed with tafenoquine.

22 Factors we've considered include gender,

1 age, body mass, index, region, parasite, genotype,
2 and exposure. Notably, though, PK/PD analyses
3 demonstrated that an AUC above 56 microgram-hours
4 per mL was the greatest predictor of a positive
5 treatment outcome, with greater than 95 percent of
6 patients in phase 3 achieving this exposure.

7 Given these analyses, our conclusion is that
8 those patients on tafenoquine or primaquine who
9 experienced a recurrence are more likely to have
10 been re-infected, since reinfections and relapses
11 can't be distinguished in individual patients.

12 Although not part of the pivotal study or
13 primary analysis, we also looked at recurrence-free
14 efficacy in our other key studies. As mentioned
15 earlier, efficacy analyses for 300 milligrams in
16 part 1 of study 582, presented here, corroborate
17 the results of the pivotal study.

18 In part 1, recurrence-free efficacy at 6
19 months was 89.2 percent in the tafenoquine group
20 compared to 37.5 percent in the chloroquine group.
21 While study 564 did not evaluate chloroquine alone,
22 which is critical for understanding the underlying

1 relapse rate, recurrence-free efficacy assessments
2 were included as secondary endpoints.

3 Recurrence-free analyses at 6 months in
4 study 564 also supported the results of the pivotal
5 study, with similar efficacy rates between the two
6 arms.

7 So in summary, tafenoquine is an effective
8 treatment for the radical cure of *P. vivax* malaria.
9 A 300-milligram single dose of tafenoquine co-
10 administered with chloroquine significantly reduced
11 the risk of *P. vivax* recurrence compared to
12 chloroquine alone.

13 Across all studies, the recurrence-free
14 efficacy rate in the tafenoquine groups was
15 consistent and significantly higher than that in
16 the chloroquine-alone group. Finally, the
17 reduction in recurrence was numerically comparable
18 with primaquine. Thank you.

19 Next, I invite Dr. Webster to the lectern to
20 discuss safety.

21 **Applicant Presentation - Alison Webster**

22 DR. WEBSTER: Good morning. I'm Alison

1 Webster, head of clinical global health at GSK.
2 I'm a physician with more than 25 years
3 experiencing clinical development and have worked
4 on this project for the last nine years.

5 The safety profile for the 300-milligram
6 single dose is well characterized and similar to a
7 14-day course of primaquine, 15 milligrams, which
8 is already approved for radical cure. I'll first
9 focus on the safety from the placebo-controlled
10 studies and then look in more detail at adverse
11 events of special interest across various
12 development programs.

13 I'll then touch on our proposed post-
14 approval plans. Let me first review the overall
15 safety exposures with tafenoquine. More than 4,000
16 subjects have been exposed to tafenoquine across
17 various development programs, including more than
18 800 subjects exposed to a 300-milligram dose, 483
19 patients with *P. vivax* receiving 300 milligrams
20 single dose in combination with chloroquine in the
21 phase 2 and 3 studies, of whom 317 were enrolled in
22 the placebo-controlled studies 582 part 1 and part

1 2.

2 For the purposes of this presentation, I
3 will focus on these placebo-controlled studies in
4 malaria patients and will present the additional
5 safety studies that support the 300-milligram
6 single dose.

7 The incidence of adverse events was similar
8 between the treatment groups with no evidence that
9 tafenoquine exacerbates the effects of chloroquine.
10 It was a slightly higher incidence of serious
11 adverse events in the tafenoquine group. There
12 were no fatal SAEs and no adverse events led to
13 withdrawal in any of the groups.

14 Adverse events leading to discontinuation of
15 study drug were similar in the tafenoquine group
16 and chloroquine-alone group and lower in the
17 primaquine group. This shows the adverse events
18 across the entire 6 months of the study. Several
19 adverse events are more frequent in the
20 chloroquine-only arm. These symptoms, such as
21 headache, pyrexia, and chills are likely to be
22 related to malaria relapse and retreatment, which

1 happened more frequently in the chloroquine-only
2 arm.

3 To attempt to remove this potential
4 confounding effect, we therefore also looked at AEs
5 occurring in the first 29 days of the study before
6 the first documented *P. vivax* recurrences.

7 Pruritis was the most common adverse event
8 in all treatment groups. This is a well-described
9 side effect for chloroquine. The incidence of
10 dizziness and decreased hemoglobin were higher in
11 the tafenoquine group compared to the chloroquine-
12 alone group. I'll return to these later.

13 The majority of adverse events that led to
14 the continuation of study drug in the tafenoquine
15 group were events that met the protocol, pre-defined
16 stopping criteria for changes in hemoglobin.

17 The protocol defined a serious adverse event
18 of hemoglobin decline regardless of severity or
19 seriousness in order to ensure thorough evaluation
20 of hemoglobin changes in the phase 3 studies in
21 real time. Therefore, we pre-defined that any
22 hemoglobin decrease of 30 percent or 30 grams per

1 liter from baseline or a decrease in absolute
2 hemoglobin to below 60 grams per liter would be
3 recorded as an SAE.

4 In reality, none of these patients
5 experienced events that would otherwise meet the
6 criteria for seriousness such as being life
7 threatening or prolonging hospitalization. And all
8 patients recovered without any intervention.
9 Additionally, none of the hemoglobin-related
10 adverse events reported as severe.

11 Decreased hemoglobin was the most common
12 serious adverse event and the only SAE reported in
13 more than one subject in the tafenoquine and
14 chloroquine group. QT prolongation, a well-
15 recognized adverse effect of chloroquine, was
16 observed less frequently in the tafenoquine group
17 than in the other groups.

18 Excluding SAEs of hemoglobin decrease, the
19 incidence of SAEs was similar between treatment
20 groups. Let me now turn to adverse events of
21 special interest. There were no clinically
22 meaningful differences between the tafenoquine

1 group and the chloroquine-alone group with respect
2 to hematologic ophthalmic, psychiatric
3 hepatobiliary, or renal and urinary adverse events.

4 On the right, you can see the relative risk
5 of experiencing a particular event between the two
6 groups. The risk of experiencing nervous system
7 adverse events was estimated to be lower in the
8 tafenoquine, primarily driven by the incidence of
9 headache.

10 This is likely due to the increased rate of
11 P. vivax malaria recurrence in the chloroquine-
12 alone group as previously highlighted. I'll now go
13 into more detail on some of these areas of
14 interest. In the placebo-controlled studies,
15 you'll see that the changes in hemoglobin over time
16 are very similar between chloroquine, tafenoquine,
17 and primaquine groups.

18 None of the tafenoquine-treated patients had
19 a hemoglobin value below 70 grams per liter. We
20 looked in some detail at the patients experiencing
21 these protocol-defined SAEs of 30 grams per liter
22 hemoglobin decline. While we've observed these

1 hemoglobin declines in G6PD-normal patients, these
2 were not due to drug-induced hemolytic events since
3 there was no evidence from any laboratory markers.

4 In fact, our data suggests that the
5 underlying infection and subsequent rehydration
6 could be contributing factors in most cases.
7 Importantly, all declines resolved without
8 intervention.

9 Furthermore, the hemoglobin nadir in
10 patients who experienced a protocol-defined SAE,
11 was in much the same range as those without an SAE,
12 further highlighting the conservative nature of the
13 SAE definition. Hemoglobin declined with a
14 300-milligram single dose was also studied in the
15 safety study 564 and compared with primaquine. The
16 same definition of hemoglobin decline as described
17 previously was used in this study.

18 Study 584 showed no clinically relevant
19 declines in hemoglobin. Few patients met this
20 endpoint in either treatment group and there was no
21 meaningful difference in the proportions between
22 the tafenoquine and primaquine groups.

1 Similar to what was seen in the placebo-
2 controlled studies, there was no evidence from
3 other laboratory markers that these declines were
4 due to hemolysis. No tafenoquine-treated patient
5 had a hemoglobin below 70 grams per liter or
6 required a blood transfusion.

7 The majority of hemoglobin drops in both
8 treatment groups were less than 20 grams per liter
9 and recovered with no clinical sequelae.

10 Moving to ophthalmic events, across the
11 primary studies, there was no evidence of retinal
12 toxicity or any corneal changes associated with
13 vision changes for the 300-milligram tafenoquine
14 single dose. Adverse events associated with ocular
15 changes were infrequent and similar across the
16 treatment groups.

17 All events were mild or moderate in severity
18 and all resolved. There were no ophthalmic SAEs.
19 All of the events had onset within the first 29
20 days. In addition to these evaluations in malaria
21 patients, we conducted an assessment of ophthalmic
22 safety in healthy volunteers using more sensitive

1 techniques.

2 We conducted study 807 in healthy volunteers
3 to look specifically for retinal toxicity and other
4 ocular safety parameters for the tafenoquine
5 300-milligram single dose. In total, 330
6 tafenoquine-treated subjects and 168 placebo-
7 treated subjects were followed for 90 days for
8 safety.

9 There were no changes in ophthalmic safety
10 parameters using the very sensitive techniques of
11 ocular coherence tomography and fundus
12 autofluorescence. AEs related to eye disorders
13 were actually less frequent in the tafenoquine
14 group compared to the placebo.

15 Moving on now to CNS adverse events, no
16 serious CNS events have been reported in the more
17 than 800 individuals who have received the
18 proposed -- the 300-milligram single dose. All CNS
19 events were mild or moderate and resolved.
20 Additionally, no patient withdrew from the studies
21 or discontinued drug due to a CNS adverse event.

22 Let me first show the CNS adverse events

1 reported over the entire 6 months' follow-up from
2 the placebo-controlled studies. The overall
3 incidence of CNS events was higher in the
4 chloroquine-alone group, mainly driven by headache.
5 There was a low incidence of psychiatric adverse
6 events, similar in all three groups.

7 Insomnia and anxiety were the only events
8 reported. All were mild or moderate and all
9 resolved. For the reasons previously mentioned,
10 with the confounding effects associated with the
11 chloroquine group, here I'm showing the CNS AEs
12 just for the first 29 days.

13 In this period, the overall incidence of CNS
14 events was similar across the treatment groups.
15 The incidence of dizziness was higher in both
16 tafenoquine and primaquine groups compared to the
17 chloroquine-alone group and this will be reflected
18 in proposed labeling.

19 As previously highlighted, insomnia and
20 anxiety were the only psychiatric adverse events
21 that were reported. In these malaria patients, the
22 use of a single 300-milligram tafenoquine dose

1 given with chloroquine for radical cure has a low
2 risk of CNS effects.

3 Across the various tafenoquine development
4 programs comprising both studies in radical cure
5 and in healthy volunteers, there were a limited
6 number of psychiatric adverse events of potential
7 concern.

8 Of more than 1,100 patients receiving a
9 single dose of tafenoquine, 4 individuals
10 experienced such a psychiatric adverse event.
11 Importantly, all cases resolved. All received a
12 dose of tafenoquine greater than 300 milligrams and
13 3 of the 4 had a history of pre-existing
14 psychiatric disorder.

15 While no causal relationship can be
16 established, given that we have observed these
17 events, we are proposing a cautionary statement be
18 added to the label for patients with a history of
19 serious psychiatric disorder.

20 Tafenoquine is not associated with
21 clinically significant hepatobiliary events.
22 Transient sporadic reversible increases in liver

1 transaminases were observed in all clinical
2 studies. There was a similar incidence of ALTs 3
3 times the upper limit of normal in all three
4 groups. There was a low incidence of ALTs more
5 than 5 times the upper limit of normal in any
6 group.

7 A similar incidence of bilirubin elevations,
8 more than twice the upper limit of normal, were
9 observed across the groups. No cases of Hy's law
10 were observed. As shown in the table, 2 patients
11 fulfilled the laboratory criteria, but each had
12 clear evidence of an alternative cause.

13 The first is a 27-year-old female diagnosed
14 with hepatitis E on day 89, the second a 29-year-
15 old male who reported use of concomitant herbal
16 medicines. A thorough QT study was conducted to
17 assess the effect on QT prolongation. 300-, 600-,
18 and 1,200-milligram doses were compared to placebo.

19 There was no effect of the tafenoquine 300-
20 or 600-milligram doses on QTc prolongation and the
21 1,200-milligram suprathreshold dose fell within
22 the safety margin set in regulatory guidance.

1 The maximum effect the QT interval with the
2 1,200-milligram dose had an upper bound for the 90
3 percent confidence interval of less than 10
4 milliseconds. In a separate drug-drug interaction
5 study, study 491, co-administration of tafenoquine
6 with chloroquine did not demonstrate an additional
7 effect for tafenoquine on the QTcF interval
8 prolongation observed for chloroquine.

9 Likewise, no differences were observed
10 across the placebo-controlled studies. 2 cases of
11 hypersensitivity were observed across the
12 development program, both in a study of healthy
13 volunteers. A delay in onset of the events post-
14 treatment and the duration of symptoms were not
15 consistent with anaphylaxis.

16 Importantly, both subjects recovered, having
17 received antihistamines and steroids. Alternative
18 explanations were not identified. Proposed
19 labeling will also include a precaution for
20 hypersensitivity.

21 The evaluation of the 300-milligram single
22 dose provides reassuring safety data. However, we

1 are proposing post-approval activities that will
2 enhance pharmacovigilance, including monitoring for
3 CNS and hematologic events.

4 We're exploring a CDC collaboration for
5 active follow-up of the U.S. patients by protocol
6 who will be treated with tafenoquine. We also plan
7 to conduct observational studies of real-world
8 tafenoquine use in endemic countries and to
9 participate in a collaboration with the WHO, MHRA,
10 and Bill and Melinda Gates Foundation called Smart
11 Safety Surveillance, with the aim of strengthening
12 pharmacovigilance capabilities in low- and middle-
13 income countries.

14 In summary, the safety profile for the
15 300-milligram single dose of tafenoquine is well
16 characterized and similar to 15 milligrams of
17 primaquine for 14 days, which is already approved
18 for this indication in G6PD-normal patients.

19 There was no evidence that tafenoquine
20 exacerbates the effects of chloroquine. The known
21 risk of hemolysis in G6PD-deficient patients can be
22 appropriately managed by mandating G6PD testing in

1 the proposed label. As expected, in G6PD-normal
2 patients, there were no clinical hemolytic events.

3 The observed hemoglobin declines recovered
4 without intervention. There was no evidence of
5 retinal toxicity. Furthermore, with the
6 300-milligram single dose, CNS side effects have
7 been mild to moderate and resolved.

8 Thank you. Dr. Price will conclude with a
9 benefit-risk assessment.

10 **Applicant Presentation - Richard Price**

11 DR. PRICE: Thank you, Dr. Webster.

12 Good morning, everyone. My name is Rick
13 Price. I'm professor of global health at the
14 Menzies School of Health Research in Australia and
15 professor of travel medicine at the University of
16 Oxford in U.K. I'm an infectious diseases
17 physician and a clinical researcher with a program
18 primarily focused on the epidemiology and
19 management of plasmodium vivax.

20 I'm not paid by the pharmaceutical industry
21 and declare no conflict of interest except for the
22 reimbursement of my travel costs. I'm here because

1 I believe that tafenoquine represents a huge
2 advance in malaria therapeutics, both within the
3 United States and the global community. Let me
4 tell you why.

5 Dr. Baird highlighted the main challenge in
6 treating plasmodium vivax. It's the parasite's
7 ability to form hypnozoites in the liver, coupled
8 with our inability to provide an effective and
9 reliable radical cure of vivax malaria.

10 In areas with high relapse periodicity,
11 patients have recurrent bouts of malaria every 3 to
12 4 weeks, sometimes extending for months and even
13 years. Each episode of malaria is associated with
14 hemolysis and frequent relapses can thus rule out
15 result in a cumulative risk of severe anemia.

16 Now, severe anemia is associated with both
17 direct and indirect morbidity and mortality. The
18 more malaria episodes you have, the greater the
19 incident density of the disease. And as you can
20 see from this figure derived from Papua, Indonesia,
21 patients dying had a much greater instance of
22 malaria compared to those surviving. And most of

1 those episodes were due to vivax malaria,
2 represented here by the green bars.

3 Clinical trials generally quantify the risk
4 of the first occurrence and they're so likely to
5 underestimate the main benefit of radical cure,
6 which is its reduction of multiple episodes of
7 malaria, hence killing hypnozoites effectively,
8 particularly in low-resource settings, can
9 potentially reduce both morbidity and mortality.

10 Since the 1950s, we have relied on
11 primaquine for the radical cure of plasmodium
12 vivax. And this quote is taken from 1963, when
13 there was a huge enthusiasm for the arrival of the
14 drug, recommending intensive research, develop safe
15 and effective radical cure with a single or 3-day
16 regimen.

17 However, progress with primaquine has been
18 appallingly slow and we are a long way off that
19 goal. After 55 years, we still don't know the
20 optimal dosing regimen. In areas where G6PD
21 testing is unavailable, clinicians are reluctant to
22 prescribe primaquine, prioritizing the treatment of

1 acute symptoms rather than preventing subsequent
2 infections.

3 Perhaps the greatest challenge is that
4 patients are unlikely to complete a prolonged
5 course of treatment, especially when their acute
6 symptoms resolve rapidly. The WHO and CDC
7 currently recommend a 14-day course of primaquine.
8 Now, the efficacy of this regimen depends on the
9 total dose ingested by the patient.

10 Several studies have explored the effect of
11 endurance, total dose, and treatment efficacy, and
12 here's a recent example from Ethiopia. In this
13 clinical trial, treatment was partly supervised
14 and, yet, despite supervision, almost a third of
15 patients had an incomplete course. An incomplete
16 course was associated with 20 percent lower
17 efficacy.

18 In the patients with unsupervised treatment,
19 the efficacy was even worse. Dr. Green showed us
20 the results of the tafenoquine versus primaquine
21 randomized trials and tafenoquine has a very
22 similar efficacy to a standard supervised 14-day

1 course of primaquine.

2 Interestingly, in study 582 part 2,
3 adherence to 14 days' primaquine was greater than
4 90 percent, much higher than I would have expected,
5 a likely reflection of the intense study
6 environment and these carefully conducted GCP phase
7 3 trials. In my opinion, the true effectiveness of
8 chloroquine plus primaquine would be much lower,
9 whereas for a single-dose regimen, the observed
10 efficacy will differ very little if at all from
11 that in reality.

12 So the clinical efficacy of primaquine in
13 the trials presented by Dr. Green represents the
14 best-case scenario. True effectiveness studies are
15 incredibly difficult to undertake, mainly due to
16 reaction bias when patients are being observed.
17 Now, we tried to explore effectiveness in Papua,
18 Indonesia and, over a 10-year period, gathered data
19 routinely collected for more than a million
20 patients. 46,000 patients were treated with an
21 unsupervised 14-day course of primaquine.

22 You can see from the figure that both low-

1 and high-dose primaquine regimens had very similar
2 risk of recurrence compared to those patients who
3 were treated without primaquine. In multivariable
4 analysis, the effectiveness of primaquine in this
5 real-world setting was at best just 10 percent.
6 Now, that's appalling.

7 Would the effectiveness of a 14-day regimen
8 be better in the United States, United Kingdom, or
9 Australia? Maybe with appropriate patient
10 education, but probably not a lot better. We don't
11 have reliable data on primaquine adherence in the
12 United States, but as a guide, only half of
13 patients prescribed a 7-day course of antibiotics
14 actually complete their treatment.

15 So adherence to a 14-day antimicrobial
16 regimen is likely to be poor even in the United
17 States. Dr. Webster has presented the safety
18 profile of tafenoquine. It's excellent and very
19 similar to that of primaquine.

20 Now, it's worth noting the huge experience
21 we have with primaquine. Over 36 million
22 individuals have been exposed. The predominant

1 reported symptoms are gastrointestinal and can be
2 alleviated by taking tablets and with food.

3 In a comprehensive WHO report, 219 SAEs were
4 identified and almost all were due to severe
5 hemolysis. Importantly, there were no hemolytic
6 SAEs in patients known to be G6PD normal.

7 There's been one report of a
8 neuropsychiatric SAE in a 55-year-old man in the
9 United States with malaria, treated with
10 chloroquine and primaquine. And he developed
11 depression and psychosis after the second dose of
12 primaquine, but it resolved within 24 hours on
13 stopping both drugs.

14 Other adverse events are very hard to
15 attribute confidently to either primaquine, the
16 disease, or concomitant medications. Symptoms such
17 as headache and dizziness are very commonly seen in
18 patients with malaria. There have been 14 days
19 associated with primaquine use, again almost all
20 related to severe hemolysis.

21 Reassuringly, the overall mortality
22 associated with primaquine is very low. So in

1 summary, the post-marketing surveillance of
2 primaquine, a drug very similar to tafenoquine, is
3 extremely reassuring. For both tafenoquine and
4 primaquine, the predominant concern remains that of
5 drug-induced hemolysis.

6 But this can be avoided by excluding
7 patients with G6PD deficiency. In G6PD-normal
8 individuals, the benefits of radical cure far
9 outweigh the risks since malaria's an
10 intraerythrocytic infection and causes significant
11 hemolysis even in the absence of an 8-
12 aminoquinoline.

13 This recent large pooled analysis highlights
14 that primaquine is associated with a small non-
15 significant greater drop in hemoglobin at day 3.
16 However, crucially, it prevents relapses and thus a
17 further bout of parasite-induced hemolysis. Hence,
18 patients treated with radical cure actually have a
19 significantly faster hemoglobin recovery and a
20 higher hemoglobin at day 42.

21 So in summary, radical cure is essential for
22 the control and ultimate elimination of vivax

1 malaria. In low-resource settings, I am convinced
2 that radical cure has the potential to reduce
3 direct and indirect morbidity and mortality, but we
4 will need a large clinical trial to prove that.

5 The adverse effects of both primaquine and
6 tafenoquine are well identified and manageable. In
7 reality, the current WHO strategy for the radical
8 cure of vivax malaria is broken. We have to find a
9 way to fix it and we are looking at a number of
10 options, shortening the course, text reminders,
11 media campaigns, education, et cetera. You know
12 how difficult it is.

13 But the arrival of tafenoquine provides a
14 very welcome alternative both within the United
15 States and globally. The ability to provide
16 radical cure with a single dose of tafenoquine is a
17 phenomenal achievement and, in my opinion,
18 represents one of the most significant advances in
19 malaria therapeutics in the last 60 years, so thank
20 you.

21 I'll now invite Dr. Webster back to the
22 lectern to take your questions.

Clarifying Questions

1
2 DR. BADEN: I would like to thank the
3 applicant for a whirlwind of data in an hour. That
4 is fabulous and greatly appreciated.

5 What I'll ask of the committee is, can
6 committee members indicate to myself and Ms. Bhatt
7 if you have questions? As we've done in prior
8 meetings, what I'll try to do is ask follow-on
9 questions to a theme so we're not jumping between
10 themes, so that if you have a follow-on question to
11 a particular theme, let me know as well and we'll
12 try to build on a theme while I manage the time so
13 we can get through as many questions as possible.

14 We have a little over a half an hour. If we
15 can't get through all the clarifying issues at this
16 time, we'll resume clarification discussion after
17 the agency's presentation.

18 What I'd like to also just clarify is, when
19 you do ask a question, state your name for the
20 record and direct the question to a specific
21 presenter. We already have many questions on the
22 list. I will start with the first question. All

1 right. And I have a question about efficacy,
2 Dr. Webster.

3 This comes from in part the presentation of
4 Dr. Baird, where they were able to distinguish on
5 slide CO-18 relapse from reinfection, yet in your
6 studies, you were unable to do this. Can you help
7 me understand why certain investigations are able
8 to do this and others are not, as that's obviously
9 an important question of efficacy?

10 DR. WEBSTER: I think the clarification is
11 that, on an individual patient basis, it's not
12 possible to identify an individual recurrent
13 episode as to whether it is a relapse or
14 reinfection on the basis of genotyping, but perhaps
15 I could invite Dr. Baird to speak about his
16 analysis of the data.

17 DR. BADEN: Because it looks like, in the
18 Thai-Myanmar and the Papua New Guinea data, they
19 were able to do that.

20 DR. BAIRD: Thank you. I'm Kevin Baird.
21 I'm with the Oxford Clinical Research Unit in
22 Jakarta, Indonesia. The answer to the question is

1 as Dr. Webster alluded and this is the difference
2 between being able to ascertain the source of an
3 infection in an individual patient versus in a
4 population.

5 So what Dr. Green explained is completely
6 accurate. We cannot know in any individual study
7 subject whether the recurrence that they're
8 experiencing has come from the liver or from a
9 fresh mosquito bite. We can't know that. In this
10 particular study that's up on the screen right now,
11 what the investigators did very simply was to
12 randomize cohorts to treatment.

13 They could then ascertain at a population
14 level what the infection pressure from hypnozoites
15 appeared to be. And it's very simply the
16 difference between the blue lines and the red lines
17 that you see here.

18 DR. BADEN: Thank you. Dr. Moore?

19 DR. MOORE: Pardon me. I actually have
20 three questions, all very brief. Just a quick
21 question -- how do you pronounce the trade name
22 that we're going to be using?

1 DR. WEBSTER: Krintafel.

2 DR. TAN: Krintafel, thank you. I've tried
3 to look for the dates of the clinical trials that
4 we were discussing. I mean, tafenoquine has been
5 around for a very long time, but I apologize.
6 Perhaps I overlooked it. Would somebody be able to
7 provide the dates that the clinical trials were
8 executed, the 582 and 564?

9 DR. WEBSTER: Could I ask Dr. Green to
10 confirm the exact dates? Thank you.

11 DR. GREEN: Justin Green, clinical. Yes.
12 So part 1 of study 582 was conducted in
13 approximately 2012 and took us about 12 months to
14 recruit those subjects. And then studies 582 part
15 2 and study 564 were run concurrently between about
16 2015 and about in May 2017. Thank you.

17 DR. MOORE: Thank you. And then one last
18 question regarding safety, Dr. Webster; the issue
19 really for tafenoquine as I understand it in my
20 mind, anyway, has been the Australian defense
21 forces have been using tafenoquine for weekly
22 prophylaxis for quite some time.

1 It seems to have been a very safe drug with
2 the exception of some issues with psychiatric
3 events. I know those data were not presented
4 today, but it's on my mind about the question of
5 whether these soldiers had PTSD versus tafenoquine-
6 associated problems.

7 I just want to say thank you for presenting
8 the data. The psychiatric safety data were
9 somewhat reassuring, but just to be clear, the
10 three individuals that were discussed, that were
11 mentioned had psychiatric problems with
12 tafenoquine. Did I understand that correctly that
13 they did have underlying psychiatric illness or
14 not?

15 DR. WEBSTER: The 4 subjects that I
16 presented here -- 3 of them had pre-existing
17 psychiatric conditions. 1 did not, which was a
18 mild episode of depressed mood.

19 DR. MOORE: Thank you.

20 DR. BADEN: If no follow-on, then Dr. Clark?

21 DR. CLARK: This may be a question for
22 Dr. Green. I had a question about diagnosis. Were

1 only blood smears done for diagnosis? Or were
2 there any more sensitive tests done? And how often
3 were they performed?

4 DR. WEBSTER: Dr. Green, could you respond?
5 Thank you.

6 DR. GREEN: Justin Green, clinical. Yes.
7 So we used slide read. We did a large amount for
8 our slide readers in our endemic sites before they
9 were allowed to do slide read, both too thick and a
10 thin film. We had two independent readers and a
11 third reader if there was an inconsistency between
12 those. We also had a significant amount of quality
13 assurance with an external slide read done on a
14 percentage of those slides.

15 We did not use other sensitive techniques
16 during the study. We did, as you know, use some
17 parasite genotyping subsequent to the study and we
18 also have a collaboration with Sanger in Cambridge
19 looking at parasite genotyping in a more academic
20 way.

21 DR. CLARK: Were there routine smears done
22 or was this for symptoms? Or how did --

1 DR. GREEN: Thank you, yeah, sorry, your
2 second question. So there was fairly intense
3 follow-up within the first month of our subjects
4 being in the study, initially 3 days as an
5 inpatient or in a clinic, and then 3 visits in the
6 first two weeks, weekly visits, and then monthly
7 scheduled visits the whole way out to 6 months.

8 Irrespective of symptoms, they would have a
9 smear done at that point. Independent of that,
10 unscheduled visits would occur. The subjects were
11 counseled if they got any symptoms of recurrent
12 malaria, principally fever and headache. They
13 would come back to the clinic and part of that
14 work-up would be an additional smear.

15 DR. CLARK: Thank you.

16 DR. BADEN: Dr. Follmann?

17 DR. FOLLMAN: Thank you. I have questions
18 about the proposed post-marketing studies. So
19 three questions -- one is how many individuals in
20 the U.S. have *P. vivax* infection that are treated.
21 And could you hazard a guess as to how many that
22 you would enroll in this study? And then also, are

1 you planning on looking at just tafenoquine alone
2 or are you also planning to look at people who are
3 treated with primaquine to provide a kind of
4 comparison for the psychiatric and safety issues
5 you've mentioned?

6 DR. WEBSTER: So to answer your first
7 question, based on the efficacy we have, we
8 anticipate around 200 subjects annually in the U.S.
9 with *P. vivax* malaria. And I'd like to ask
10 Dr. Hardaker to explain to you all post-marketing
11 plans.

12 DR. HARDAKER: Dr. Liz Hardaker, clinical
13 safety at GSK. So we've committed to a post-
14 registration safety surveillance study in the U.S.
15 We propose to use an active surveillance model, so
16 we will follow up every tafenoquine-exposed
17 patient. We're exploring collaboration with the
18 CDC and we'll monitor patients throughout the
19 reporting period annually and then after a 5-year
20 period.

21 Beyond the U.S., we are going to do some
22 implementation studies, so we're going to do a

1 stepwise introduction into low-, middle-income
2 countries, where there's a higher disease burden,
3 but less pharmacovigilance infrastructure. And in
4 those studies, there will be some comparison with
5 primaquine.

6 We will say proposed beyond those studies to
7 do active surveillance, using some specialist
8 slides that we'll have routinely follow up all
9 patients. So we'll have both a numerator for
10 events and also a denominator so that we can study
11 the rates of events.

12 DR. BADEN: Dr. Follman, did you say you had
13 three questions?

14 DR. FOLLMAN: Well, I thought I asked them.
15 One was how many are in the U.S.

16 DR. BADEN: Dr. Honegger?

17 DR. HONEGGER: Just specifically about the
18 hypersensitivity, what was the denominator for
19 those two patients? Was it just the ones that had
20 the malaria or was this the, like, 4,000 exposed
21 patients, for instance?

22 DR. WEBSTER: These are two cases of

1 hypersensitivity throughout the entire safety
2 database.

3 DR. BADEN: Thank you. Dr. Weina?

4 DR. WEINA: I'm trying to ascertain the
5 issue of compliance because, of course, tafenoquine
6 has this real advantage over primaquine, but you
7 had unusually high compliance in 582 part 2. Was
8 that because of directly observed therapy or just
9 based on pill count?

10 DR. WEBSTER: Dr. Green, could you respond,
11 please?

12 DR. GREEN: We did not use directly-observed
13 therapy in any of our treatment studies. What we
14 did was, we enhanced the ability of sites with the
15 principal investigator to help individual subjects
16 or patients in the trials with compliance.

17 So as I spoke to Dr. Clark earlier on, there
18 were visits after the three days of inpatient when
19 there was delivery of the medicine in front of the
20 patient, visits at day 5, 8, 10, and 14 on those
21 days and in some cases in between days. There
22 would be reminders dependent on what the local site

1 wanted to do.

2 So there was a very heavy kind of resource
3 used to get that level of compliance. We assessed
4 by pill count on each visit, but we just presented
5 the final pill count for you.

6 DR. GRIPSHOVER: Just a quick follow-up on
7 that -- so was that different from part 1 and part
8 2?

9 I think you said, part 1, that part of why
10 the primaquine arm didn't look as good was because
11 of the adherence. So did you specifically add
12 those extra when you went to part 2 of the study?

13 DR. WEBSTER: Yes, we did.

14 DR. BADEN: Ms. Orza?

15 DR. ORZA: Dr. Orza. My question got asked
16 and answered by someone else. Thank you.

17 DR. BADEN: Thank you. Dr. Gripshover?

18 DR. GRIPSHOVER: I have one more question
19 about the hypersensitivity. So because the drug
20 has such a long half-life, it looks like 10 weeks
21 or more, how long were those patients required to
22 be treated with steroids and antihistamines?

1 DR. WEBSTER: Just to clarify, the drug has
2 a half-life of approximately 15 days.

3 DR. GRIPSHOVER: But there's one side that
4 looks like you have levels up to 10 weeks. Right?

5 DR. WEBSTER: The drug will be detectable
6 for several half-lives, yes. No, the patients
7 responded in the anticipated time frame, rapidly,
8 to steroids and antihistamines.

9 DR. BADEN: I guess the question is, did
10 they have a day of treatment or did they require
11 steroid antihistamines for weeks if I understand
12 the question correctly?

13 DR. GRIPSHOVER: Because it's still around,
14 you know.

15 DR. BADEN: How long did they require the
16 supportive care?

17 DR. WEBSTER: Dr. Green, could you answer
18 that, please?

19 DR. GREEN: Justin Green, clinical. So both
20 subjects attended the ER, were diagnosed with the
21 hypersensitivity. We treated with oral steroids
22 and antihistamines for about 7 days or less.

1 DR. BADEN: Thank you. Mr. Mailman?

2 MR. MAILMAN: Yes. Josh Mailman. This is
3 for Dr. Price on slide 71. You had one of the
4 reasons that, 55 years later, clinicians are
5 reluctant to describe PQ is because of the testing
6 for G6PD. Since this is still a requirement in
7 this drug, how does that change or what percentage
8 of you think this not being a radical cure 55 years
9 later is for that?

10 DR. WEBSTER: Before asking Dr. Price to
11 respond, could I just clarify that we do have an
12 effort to produce high-quality point of care
13 diagnostic tests for G6PD deficiency in endemic
14 countries, which will be available at the time that
15 tafenoquine is used in endemic countries, but could
16 I just ask, then, Dr. Price, to response to your
17 question?

18 DR. PRICE: Thank you. Dr. Price from
19 Oxford. It's a very good question and I'm
20 referring to endemic environment. I work with 17
21 malaria endemic countries and the reality is that
22 G6PD deficiency kits are often not available.

1 There is a lot of anxiety and the reality is that,
2 even though this is national policy on the ground,
3 in the clinic, patients don't see that necessity.
4 They don't see the benefits and the patients don't
5 see the benefits because it's future events. So if
6 you're frightened of treating because you can't
7 rule on G6PD deficiency, the easiest thing is not
8 to give it.

9 DR. BADEN: I think Dr. Tan had a follow-on
10 or a different line of questioning.

11 DR. TAN: It's a different question.

12 DR. BADEN: Okay. Then Dr. Weina has a
13 follow-on.

14 DR. WEINA: We all know what happens in
15 clinical trials that is not necessarily reflected
16 in the reality once something gets out on the
17 street. And speaking about this fear, there are
18 going to be people who are going to prescribe
19 tafenoquine even in individuals who may be G6PD
20 deficient because they've never been tested. What
21 type of labeling would you suggest as a recourse
22 for somebody who does that?

1 DR. WEBSTER: We will be contraindicating in
2 G6PD deficiency, so we're not anticipating use in
3 G6PD-deficient patients.

4 DR. BADEN: I guess there are two sides to
5 that. One is if they know they're G6PD, another if
6 their G6PD status is unknown. And so would it then
7 be contraindicated in an unknown G6PD status?

8 DR. WEBSTER: Yes, because we would mandate
9 that patients are tested for G6PD deficiency before
10 prescribing.

11 DR. BADEN: Thank you. Dr. Beyrer?

12 DR. BEYRER: Thanks. I think this is a
13 question for Dr. Green, just really the issue of
14 the slow accrual in the 582 trial, if you can speak
15 to that, and if you think that, that had any
16 bearing on study outcomes. It was variable at the
17 sites as well.

18 I'd also be interested to know if it varied
19 much by the sites, seeing as it was a multi-site
20 trial, multi-country trial. So the question is
21 about the slow accrual in the 582 trial that was
22 eluded to on one of the slides, actually number 28,

1 but not really explained in detail, so I want to
2 know more about the slow accrual.

3 DR. GREEN: Justin Green, clinical. So we
4 had a number of sites, 13 sites in overall, but 8
5 sites in that particular study. And we found,
6 particularly in southeast Asia, that we had slower
7 recruitment than we anticipated, given a relatively
8 embedded clinical trial infrastructure with the
9 principal investigators that we used in Cambodia
10 and Thailand in particular, but also in the
11 Philippines.

12 We believe that there has been quite
13 substantial efforts on malaria elimination,
14 particularly in those middle-income countries.
15 Additionally, because of the efforts because of ACT
16 resistance in Cambodia, there's also increased
17 resources looking at treatment of all malarias.

18 So we believe that, along with the
19 stochastic seasonality of malaria, which is that
20 some years you get more and some years you get
21 less, particularly that was an issue for
22 recruitment in southeast Asia, although we did

1 still get over 20 percent of our subjects from that
2 region. The principal recruitment was actually
3 from Latin America, where we actually had less
4 issues in terms of recruitment within hollow
5 endemic areas within the Amazon. Thank you.

6 DR. BADEN: A follow-on? Presumably, any
7 redesign in the study occurred fully blinded to
8 treatment allocation to outcome. Correct?

9 DR. WEBSTER: I'm sorry. Could you --

10 DR. BADEN: Yes. The study design was 600
11 and you wound up enrolling less. My inference --
12 but I just want affirmation -- is that was done
13 fully blind.

14 DR. WEBSTER: Yes. It was.

15 DR. BADEN: It was done based upon the
16 accrual issues and then statistical reassessment?

17 DR. WEBSTER: Yes. And it was done in
18 discussion with the FDA.

19 DR. BADEN: Thank you. Follow-on,
20 Dr. Green?

21 DR. GREEN: Mike Green. This is a follow-on
22 not to your question, but the one before, which was

1 trying to get a sense if the accrual was variable
2 between centers. And I guess the inference would
3 be if it was different in one versus the other and
4 not part of the original design, was efficacy
5 different between geographic areas.

6 So I wonder if you did any internal analyses
7 to determine whether there was site-to-site
8 variability and response.

9 DR. WEBSTER: We did look at efficacy by
10 region and consistently showed benefit for
11 tafenoquine versus chloroquine, consistently across
12 all of the regions.

13 DR. BADEN: Presumably no center effects?

14 DR. WEBSTER: Could I ask Dr. Rolfe to
15 respond to that, please?

16 DR. ROLFE: Hi. I'm Katie Rolfe from
17 statistics at GlaxoSmithKline. We didn't look at
18 center effects. Some of the centers were very
19 small, hence us grouping centers by region when we
20 did our analysis.

21 DR. BADEN: Dr. Tan?

22 DR. TAN: So this question is probably

1 directed towards Dr. Green. I'm thinking one way
2 that the U.S. population may differ from the study
3 population is BMI and weight. It is not unusual
4 for us to see primaquine in those greater than 70
5 kilograms.

6 So I'm curious if, in your study population,
7 you had anyone on that higher weight range. And I
8 know this isn't quite fair because I don't think
9 you presented this data, but I am curious about the
10 efficacy on the upper weight limit.

11 DR. WEBSTER: I can share with you the
12 exposure data by BMI.

13 You can see that the exposures are very
14 comparable between those with BMIs above and below
15 30. And you can see on the right-hand side of the
16 graph this actually includes all of our data across
17 the healthy volunteer studies, which would include
18 more than 400 subjects in the U.S. That does cover
19 a wide range of BMIs and showing that 300-milligram
20 dose achieves the exposures that we target for 56.4
21 microgram-hours per mL.

22 DR. BADEN: If you can leave that slide up,

1 just a follow-on, presumably post hoc you
2 determined the 56.4 threshold. Are any factors
3 identifiable as to who fell under that threshold
4 that might inform future use?

5 DR. WEBSTER: Well, just to clarify, that
6 exposure threshold was identified from an analysis
7 of the dose response in phase 2. Could I ask
8 Dr. Goyal to respond to your second question?

9 DR. GOYAL: Navin Goyal, clinical
10 pharmacology at GSK. So yes. As Dr. Webster
11 mentioned, we looked at multiple factors and
12 exposure was the only one which showed to be a
13 significant predictor of efficacy.

14 DR. BADEN: But were there any factors that
15 predicted the exposure falling below the presumed
16 efficacious threshold?

17 DR. GOYAL: No. None of them did that.
18 Thank you.

19 DR. BADEN: I will ask a follow-on question
20 of my own on efficacy. If I understand it
21 correctly and I think it was already commented on
22 that a single dose of tafenoquine had detectible

1 levels out to 10 weeks. Then, one might infer the
2 differential exposure in the primaquine group and
3 the tafenoquine group in terms of period at risk
4 without drug on board and so the primaquine group
5 presumably has drug on board through 2 to 3 weeks
6 while the tafenoquine group has drug on board
7 through 10 or more weeks.

8 So therefore, is it comparable time frame or
9 period at risk between the two groups that you're
10 comparing in terms of relapse and how do you deal
11 with that?

12 DR. WEBSTER: Dr. Green, would you like to
13 respond to that?

14 DR. GREEN: Justin Green, clinical. So it's
15 true that the tafenoquine will be on board for
16 longer than the primaquine. Even the carboxy-
17 primaquine metabolite is not long lasting.

18 But we think that the activity of
19 tafenoquine is against the hypnozoites. We do not
20 have data as to its ability, for example, to stop a
21 blood stage infection or sporozoite challenge at
22 the 300-milligram dose, so we don't have that

1 information and we feel that the activity that
2 we're seeing is actually related to its anti-
3 hypnozoite activity alone.

4 DR. BADEN: So you think the activity is
5 exclusively to the hypnozoite, not to the other
6 forms?

7 DR. GREEN: We believe, at this dose, that
8 that's probably the correct assumption. Higher
9 doses have been shown to be prophylactic against
10 multiple malaria parasites, but we don't know, for
11 example, with this dose, what the prophylactic
12 activity would be

13 DR. BADEN: Dr. Weina, do you have a follow-
14 on?

15 DR. WEINA: Any concerns about the
16 development of resistance at that low level based
17 upon the fact that reinfection can take place and
18 you have low levels kind of hanging around for a
19 long time? Have you looked at laboratory studies
20 trying to induce resistance or anything, detected
21 any resistance?

22 DR. WEBSTER: In this use, where we're

1 giving tafenoquine for radical cure, we're
2 obviously looking at the effect on the hypnozoite.
3 We know that there are relatively small numbers of
4 parasites present in the liver, so those are not
5 the typical circumstances with parasite forms that
6 are not actively replicating, where you would tend
7 to see resistance emerge.

8 But when given for radical cure for any
9 blood-stage parasites, chloroquine is also being
10 administered, so there is dual therapy for the
11 blood stages. We haven't done --

12 DR. WEINA: So the answer is no.

13 DR. WEBSTER: We haven't done any specific
14 studies to look for resistance.

15 DR. WEINA: Thank you.

16 DR. BADEN: Dr. Green, did you have a
17 follow-on?

18 DR. GREEN: This would be really a follow-on
19 to the previous question. So I'm going to presume
20 you don't have any data about longitudinal
21 efficacy, so this is a treatment trial. They get
22 treated for their sentinel event and, if they got

1 recurrences, I presume they were treated with
2 standard therapy, so they got primaquine plus
3 chloroquine, so we don't know whether this drug
4 will work with your next episode of infection or
5 the episode after that.

6 Again, the concern with the long low levels
7 in blood and the potential impact on the blood
8 phase parasite at the time of infection for
9 selecting resistance and the ongoing circulation of
10 that potentially in the population.

11 DR. WEBSTER: So in this clinical program,
12 yes, we looked for the first incidence, the first
13 recurrent episode and anyone who subsequently
14 relapsed would be treated with standard of care.
15 So we haven't studied redosing with tafenoquine.
16 But we do know that relapses following tafenoquine
17 are very unusual, within two months of dosing, by
18 which time levels would be less than 10 percent of
19 the cmax achieved on the initial dose.

20 DR. BADEN: Ms. Orza, a follow-on question?

21 DR. ORZA: Yes, Michele Orza. I had two
22 questions, part of which already got answered. The

1 first was whether you have -- because you said that
2 relapses can occur even years later, whether you
3 have any data on any of the patients beyond the 6
4 months in terms of a relapse.

5 Then the other question was about whether
6 you have any data on people who have been treated
7 multiple times with tafenoquine for perhaps
8 reinfection if it's endemic in these areas.

9 DR. WEBSTER: No, we haven't. To answer
10 your first question, no, we don't have any
11 information on patients after 6 months. I think we
12 acknowledge that 6 months is already quite a long
13 period of time to keep patients in a clinical trial
14 when they're fit and well and going about their
15 daily business. So we thought that was
16 realistically the longest we could follow subjects
17 for.

18 Just to reiterate, no, we don't have any
19 information on retreating patients for a further
20 episode of vivax malaria for radical cure.

21 DR. BADEN: Follow-on, Dr. Kartsonis?

22 DR. KARTSONIS: Yes. The question I had is,

1 do you have any data with regard to administering
2 the tafenoquine beyond the 3-day period where
3 chloroquine is administered? I'm trying to think
4 about it in a real-world situation. If somebody
5 couldn't get this, could they administer it a
6 little later, especially since the effect is more
7 on the hypnozoite phase?

8 DR. WEBSTER: No, we don't have any data.
9 Within the clinical trials, they could be treated
10 on the first or second day of chloroquine therapy.
11 I think, logically, would they expect it still to
12 work? But we don't have any information.

13 DR. BADEN: In your study design, you were
14 anticipating 90 percent efficacy. You saw 70
15 percent efficacy. Why do you think there is that
16 decrement and why is it only 70 percent effective?
17 Any hints? Is it all reinfection?

18 DR. WEBSTER: In a nutshell, that's our
19 assumption. Yes.

20 DR. BADEN: Dr. Zito?

21 DR. ZITO: Yes. I'm just wondering about if
22 a clinical trial beyond 6 months is not very

1 feasible, what will be accomplished by the post-
2 marketing surveillance 5-year study?

3 DR. WEBSTER: Could I ask Dr. Hardaker to
4 clarify our plans for post-marketing?

5 DR. HARDAKER: Dr. Liz Hardaker, clinical
6 safety. I wonder if I could just ask you to re-ask
7 the question. I didn't quite follow it. Yeah. I
8 didn't quite follow the question. I wonder if you
9 could repeat it for me.

10 DR. BADEN: Please use the microphone.

11 DR. ZITO: Yes. I was just thinking more
12 broadly, if length of clinical trials beyond 6
13 months is not feasible, what will be accomplished
14 by a 5-year post-marketing surveillance study?

15 DR. HARDAKER: In the U.S., we plan to
16 follow for 5 years. That's the commitment that we
17 have undertaken. However, in the endemic
18 countries, we were establishing some active
19 surveillance centers who will be specialist
20 pharmacovigilance sites.

21 Although we planned to do early and delayed
22 follow-up, these sites I guess would also be seeing

1 the same patients again and I think would be able
2 to monitor for that.

3 DR. BADEN: Thank you. Yes, Dr. Honegger?

4 DR. HONEGGER: I have three questions,
5 actually not very related. The first one is about
6 the post-marketing or maybe labeling
7 recommendations for monitoring patients who receive
8 the study drug in terms of hemoglobin specifically.
9 Is there going to be a specific recommendation for
10 frequency or timing of hemoglobin monitoring?

11 The second question is about resistance.

12 DR. BADEN: If the three questions are not
13 directly linked, you probably should do one and
14 answer it.

15 DR. HONEGGER: I'll stop.

16 DR. WEBSTER: Dr. Hardaker, could you
17 respond to that, please?

18 DR. HARDAKER: So could you repeat the
19 question?

20 DR. HONEGGER: Do you anticipate specific
21 guidance on when physicians should monitor the
22 hemoglobin in patients who receive the study drug?

1 DR. HARDAKER: We are not anticipating
2 hemolysis. We're using the 70 percent threshold,
3 so the label will recommend the 70 percent
4 threshold, which we've seen in our studies and have
5 proved to be reliable. But obviously, if
6 clinicians do experience hemolysis despite using
7 that threshold, then we hopefully will be able to
8 detect that through routine pharmacovigilance and
9 obviously follow up to monitor to understand how
10 that serves them.

11 DR. BADEN: Is your question more along the
12 hemoglobin decline that was seen and what type of
13 monitoring on therapy would the hemoglobin decline
14 that was observed should clinicians be aware of
15 assuming they were all G6PD competent?

16 DR. HONEGGER: Yes.

17 DR. HARDAKER: The patients were entirely
18 asymptomatic and none of the patients needed any
19 intervention. So even if there is a decline, we
20 don't anticipate the patients needing any further
21 support, but were situations to arise where that
22 was the case, we would hope to pick that up in

1 pharmacovigilance. But we're not recommending
2 hemoglobin monitoring.

3 DR. HONEGGER: Thank you. In terms of
4 resistance, is it correct that this class of drugs
5 generally has not been shown to induce resistance
6 in vivax?

7 DR. WEBSTER: Most of the studies around
8 resistance are in blood stage infections and we
9 know that tafenoquine is not cross-resistant with
10 chloroquine against *P. falciparum* blood stages, for
11 example, but clearly monitoring for resistance in
12 the hypnozoite is very challenging, not possible to
13 do in vitro or in vivo, but using primaquine as by
14 analogy, there really is no clear evidence of
15 primaquine resistance after 60 years of use.

16 DR. HONEGGER: Thank you. My last question,
17 I think I know what you're going to say, but in
18 terms of the use of a placebo arm for this when
19 there is already a standard of care where you use
20 primaquine, can you just talk a little bit about
21 the thought behind that and the ethics of the
22 decision to use a placebo?

1 DR. WEBSTER: Yes. We believe the use of a
2 placebo was the most robust way of clearly
3 demonstrating efficacy in radical cure. And
4 therefore, it was essential to use placebo in the
5 clinical trials. We did consider doing a
6 noninferiority study, but even in those
7 circumstances, we believe that a chloroquine-alone
8 arm would have been important. We discussed the
9 study design with key external partners who agreed
10 that this was the appropriate way forward. And the
11 studies were approved by all the appropriate
12 ethical ethics committees.

13 I know this is something that Dr. Price has
14 actually considered in the past as well, so I'd
15 like to invite him to comment.

16 DR. PRICE: Thank you. Dr. Price, Oxford
17 University. It's an excellent question and one
18 that we should always be very wary of. One of the
19 challenges of determining efficacy is the
20 background relapse pattern which vary from location
21 to location.

22 So without a placebo arm, it's extremely

1 difficult to show the overall efficacy. If you
2 didn't have a placebo arm, you could actually have
3 noninferiority of two products which were equally
4 useless. And that has been shown in the past. We
5 have repeatedly put this through ethics and done a
6 large multicenter clinical trial enrolling 3,000
7 patients and we passed that through American
8 ethics, through Oxford ethics, through Australian
9 ethics, and through ethics in seven countries.

10 So I can go on talking. We're also
11 published on the ethics of this and I think it's
12 entirely appropriate that it was a placebo arm.

13 DR. BADEN: But I think, if I understand
14 your question, Dr. Honegger, specifically
15 withholding -- I understand the scientific value,
16 the statistical value, but in the group of patients
17 who had primaquine withheld, what is the
18 justification?

19 DR. PRICE: Two justifications; the
20 justification firstly is that several countries
21 where we're working, actually primaquine isn't part
22 of policy, so therefore it's not ethically

1 challenging. In those places where it is part of
2 policy, you are closely monitoring people. You're
3 not actually declining or withholding it. It's
4 withheld temporarily because people will be treated
5 at the end of their treatment course and also they
6 will be extremely closely monitored during that
7 period.

8 It's also unethical to bring people into a
9 clinical trial if you can't make the appropriate
10 conclusions at the end of that.

11 DR. BADEN: Thank you. Dr. Green, did you
12 have a follow-on? Ms. Orza, a follow-on?

13 DR. ORZA: Michele Orza. About the decision
14 not to power for noninferiority, could you speak to
15 that?

16 DR. WEBSTER: So there were a number of
17 issues in designing a noninferiority study and one
18 was our uncertainty about establishing appropriate
19 recurrence rates for a primaquine control arm,
20 which varies from site to site, and there is not
21 reliable data in order to make the appropriate
22 estimate for efficacy against which to compare.

1 Then the second reason is, it would clearly
2 have increased the sample size, which would have
3 exceeded the feasibility for us to actually conduct
4 those studies in terms of the number of clinical
5 trial sites that are in malaria endemic regions
6 that have the capability to conduct studies to the
7 appropriate standards for a phase 3 study.

8 DR. ORZA: How many more patients would it
9 have required?

10 DR. WEBSTER: I can show you a sample size
11 estimation here that was based on an assumption for
12 80 percent efficacy. With an equal 1:1
13 randomization, it's just under 700 subjects. With
14 an unequal 2:1, it's above 700 and then, including
15 a chloroquine comparison arm as well, we estimated
16 more than 1,000 subjects, which would have been
17 exceedingly difficult for us to enroll.

18 DR. BADEN: Dr. Clark?

19 DR. CLARK: Thanks. In thinking about
20 potential reasons for tafenoquine other than
21 reinfection, I'm not sure if it's known whether
22 severity of disease could affect the liver stage

1 and the burden of organisms. It seemed that most
2 patients in these studies had malaria before and
3 were maybe partially immune and then, in thinking
4 about the U.S. population, where that may not be
5 the case, I was just wondering whether there could
6 be a difference in efficacy based on that.

7 DR. WEBSTER: I think that's a very sensible
8 suggestion in the hypnozoite burden within the
9 liver, may actually be a factor, but unfortunately,
10 it's not anything that we can study, but logically,
11 with a lower hypnozoite burden, then perhaps we
12 would expect an efficacy rate that was closer to 90
13 percent rather than the 70 percent we observed.

14 DR. CLARK: It seemed like, from the symptom
15 list, many of these infections were not severe.
16 Was it looked at in terms of the advocacy in the
17 more severe infections if there were. Was there a
18 significant population there?

19 DR. WEBSTER: Patients with severe malaria,
20 i.e. fulfilling the WHO criteria for severe, were
21 excluded from the study because they would have
22 required parenteral treatment. I could perhaps

1 just ask Dr. Green what we know about parasite
2 counts at study entry.

3 DR. GREEN: Justin Green, clinical. Yes,
4 sir. Actually, in part 1 of study 582, we
5 stratified individuals by a parasite count of based
6 on at 7,500. We saw absolutely no difference in
7 efficacy of the drug between those two groups, so
8 we actually dropped that. That was something in
9 the literature. We don't believe that parasite
10 count at baseline, which is based on blood stage
11 infection, has any bearing on your hypnozoite
12 burden, which is related to the number of times
13 that you've been bitten by an infected mosquito.

14 DR. BADEN: Along the lines of your
15 inclusion of parasite count, I think your upper
16 limit of 100,000 and I was curious as to why there
17 was a ceiling for inclusion.

18 DR. GREEN: Yes. Justin Green again. So we
19 have that just purely because that then fulfills
20 the WHO criteria of severe malaria, but in actual
21 fact, in vivax malaria, the parasite counts,
22 because it's an infection of very young red blood

1 cells, is often much lower than that. The median
2 parasite count is about 5,000.

3 We actually saw nobody with a parasite count
4 above 80,000. Thank you.

5 DR. BADEN: Thank you. Dr. Green, do you
6 have a follow-on?

7 DR. GREEN: Yes. This is a follow-on to
8 both of the last two questions. Given that your
9 study really excluded people with severe malaria, I
10 would imply from that, that the proposed labeling
11 would only be for not severe malaria due to this
12 vivax. Is that correct?

13 DR. WEBSTER: The indication will be for
14 treatment, for radical cure, so prevention of
15 relapse when given with the appropriate treatment
16 for the acute infection.

17 DR. BADEN: Dr. Orza, did you have a follow-
18 on question? And I apologize for my incorrect
19 moniker earlier. I was given incorrect
20 information.

21 DR. ORZA: It's not a follow-on. Is that
22 okay?

1 DR. BADEN: A new direction? You're on now.

2 DR. ORZA: It's a question about real-world
3 use outside the U.S. and whether the people who
4 we're concerned about their ability to adhere to
5 the regimen for the PQ would also be people we
6 would be concerned about if they had, because of
7 the long half-life of the TQ, people who we would
8 be concerned about having an adverse event in day 7
9 through 14 and their ability to get that seen to.

10 DR. WEBSTER: Could I ask Dr. Hardaker to
11 comment on our risk management plan?

12 DR. HARDAKER: Yes. It's Dr. Liz Hardaker,
13 clinical safety. So we are very aware that, in the
14 low- and middle-income countries that carry the
15 highest burden for malaria, there is a challenge in
16 terms of pharmacovigilance and therefore we are
17 developing a strategy in collaboration with the
18 national regulatory agencies and the national
19 malaria control programs to develop
20 pharmacovigilance hotspots where we will be
21 following both early and late events, so they'll be
22 followed up at key time points.

1 We're also working in collaboration with the
2 WHO, and the Bill and Melinda Gates Foundation, and
3 the MHRA to use tafenoquine actually as an example
4 along with two other products to develop effective
5 strategies for pharmacovigilance in these
6 countries. So we will be doing everything that we
7 can.

8 DR. WEBSTER: Perhaps also, just to add,
9 irrespective of the half-life, tafenoquine with the
10 long half-life, primaquine with a short half-life,
11 we have actually seen very similar safety profile
12 to primaquine in our phase 3 clinical program, so
13 we're not anticipating that there are going to be
14 excessive adverse events.

15 DR. BADEN: Thank you. Dr. Green, you had
16 an additional question?

17 DR. GREEN: Yes, just very quickly. Can you
18 let us know if there are either in place or plans
19 for a pediatric evaluation of this product for this
20 indication?

21 DR. WEBSTER: Yes, I can confirm that we do
22 have a pediatric development plan. We have a study

1 ongoing with a pediatric-friendly dispersible
2 formulation, which we anticipate will take us 2
3 years to complete. The tafenoquine is active
4 against the hypnozoites of vivax. Any reason to
5 believe it would not be active again, the
6 hypnozoites will volley? Or is it purely a vivax
7 compound?

8 DR. WEBSTER: We have no information.

9 DR. BADEN: There are some data in the
10 literature for primaquine and certain hepatic
11 isoenzymes that impact activity such as 2D6. Do
12 you have any information on how that impacts
13 tafenoquine's activity?

14 DR. WEBSTER: We do. I would ask Dr. Green
15 to respond to that, please.

16 DR. GREEN: Thank you. We were aware of
17 this information, which came naturally between part
18 1 of study 582 and we did a retrospective analysis
19 there and found no evidence of CYP2D6, which is the
20 one that you're referring to in terms of its
21 effects on efficacy for tafenoquine, but like other
22 literature reports, we found a small suggestion of

1 that with primaquine.

2 We then did prospectively look at this in
3 study 582 part 2 and study 564, particularly in
4 study 582 part 2, where there were more poor
5 metabolizers of 2D6, who actually showed no effect
6 of tafenoquine within the context and the small
7 numbers of poor metabolizers suggested that, again,
8 primaquine might be associated with a lower
9 treatment outcome. And that was not what we
10 observed with tafenoquine.

11 DR. BADEN: Did you observe that with the
12 primaquine-treated --

13 DR. GREEN: Yes. With poor metabolizers
14 particularly, with primaquine, the numbers were
15 small, but it did appear that there was a greater
16 chance of treatment failure with poor metabolizer
17 status with 2D6, whereas with tafenoquine, we did
18 not see that.

19 DR. BADEN: So if I understand the mechanism
20 correctly, primaquine is activated or at least
21 that's what suggested. Is tafenoquine not? Is
22 there a different activity profile in vivo?

1 DR. GREEN: Yes. So we've done a number of
2 both in vitro and in vivo. Tafenoquine is slowly
3 metabolized. There are no major circulating
4 metabolites and so our hypothesis would be that 2D6
5 for tafenoquine is unimportant in terms of its
6 activity.

7 DR. BADEN: Tafenoquine was given single
8 dose or any repeat dosing in this line of
9 investigation?

10 DR. WEBSTER: So it was given as a single
11 dose, but I just wanted to clarify, did you mean
12 any patients needing re-dosing following --

13 DR. BADEN: A safety issue with tri-
14 fluoridated compounds. There are some safety
15 issues associated with the accumulation of fluoride
16 and whether or not exposure to some of the other
17 aspects of the chemistry might have side effects
18 that you may or may not have been able to detect.
19 And we've seen this with some other compounds where
20 fluoride accumulates over time and has a toxicity
21 profile and would you be in a position to detect
22 that.

1 DR. WEBSTER: We haven't done repeat dosing
2 of the single dose, but clearly tafenoquine has
3 been dosed long term in prophylaxis studies. I'm
4 not aware of what safety profile one might expect
5 with fluoride accumulation.

6 DR. BADEN: Periostitis, the variety of
7 described side effects.

8 DR. WEBSTER: To my knowledge, no, we've not
9 seen those events

10 DR. BADEN: It is 10:40. We have received a
11 lot of information and are very appreciative of the
12 applicant for bot presenting and responding to our
13 many queries. We'll now take a 10-minute break.
14 Panel members, please remember there should be no
15 discussion of the meeting topic during the break,
16 amongst yourselves, or with any member of the
17 audience. We will resume at 10:50 sharp.

18 (Whereupon, at 10:39 a.m., a recess was
19 taken.)

20 DR. BADEN: It's 10:52. We shall now resume
21 and we'll proceed with the FDA's presentations.
22 And I think we'll start with Dr. Li's comments.

1 **FDA Presentation - Xianbin Li**

2 DR. LI: Good morning. I'm Xianbin Li, a
3 statistical reviewer from the Division of
4 Biometrics IV Office of Biostatistics. I will be
5 discussing the FDA's assessment of efficacy of
6 tafenoquine in the treatment of plasmodium vivax
7 malaria.

8 We will review the three studies in support
9 of efficacy of a 300-milligram single dose of
10 tafenoquine, which I have abbreviated as TQ for the
11 radical cure of P. vivax malaria in patients
12 16 years of age and older.

13 Co-administration with another faster-acting
14 schizonticide, chloroquine, CQ, for 3 days is
15 required for treatment of P. vivax malaria, as this
16 combination targets both blood and liver hypnozoite
17 stages of infection. The three studies were
18 multicenter, double-blind, double-dummy, randomized
19 studies.

20 Study 582 contained the two parts. Part 1
21 was a dose-ranging study that also came in placebo
22 and active control. Part 2 was a confirmatory

1 portion and it contained the 300-milligram single
2 dose of TQ and both a placebo and active control.

3 The two parts were independent and distinct.
4 And they were considered as two separate studies.
5 Study 564 was an active controlled study. The
6 three studies supported the efficacy of TQ.

7 I will discuss the results from those trials
8 separately. For some analyses discussed, we have
9 used different analyses and populations than those
10 used by the sponsor. Because of that, I will
11 report FDA's analysis of results.

12 Note that the differences between FDA's and
13 applicant's result are minimal and do not impact
14 the overall conclusions of the studies.

15 Study 581 part 1 was a dose-ranging study to
16 evaluate the efficacy, safety, and tolerability of
17 TQ and to find an optimal dose for part 2. This
18 part was conducted in Brazil, India, Peru, and
19 Thailand. Eligible patients were randomized to one
20 of 6 groups, 4 TQ groups, including the
21 300-milligram single dose on day 1 or day 2,
22 primaquine, PQ, an active control group once daily

1 for 14 days, and CQ alone. All groups received CQ
2 on days 1 to 3 for blood-stage infection.

3 CQ was chosen as a control group or a
4 placebo-equivalent group, as it does not have any
5 activity in preventing the relapse of hypnozoites
6 once blood levels have declined sufficiently.
7 Therefore, it allowed a test of superiority to
8 confirm and quantify efficacy. All drugs were
9 given early.

10 The main inclusion criteria included a
11 positive smear for *P. vivax*, parasite density
12 between 100 and 100,000 per microliter and age
13 greater than or equal to 16 years. The main
14 exclusion criteria included subjects with mixed
15 malaria infections, subjects with severe *P. vivax*
16 malaria, or subjects with a G6PD deficiency.

17 The primary efficacy endpoint or relapse-
18 free efficacy 6 months post-dosing -- this was the
19 final initial clearance of parasitemia undetectable
20 from two smears, slides 6 to 12 hours apart with no
21 presence of *P. vivax* asexual-stage parasites within
22 6 months.

1 The FDA's analysis population for all
2 efficacy analyses was the ITT population, defined
3 as all randomized subjects. So analysis method for
4 the primary efficacy analyses included a Kaplan-
5 Meier survival analysis and a log-rank test for
6 time to relapse.

7 To control the possible inflation of the
8 type I error due to multiple treatment groups, a
9 closed testing approach was used, that is,
10 hypotheses were tested in order from the highest
11 dose to the lowest dose.

12 Baseline characteristics were comparable
13 across groups. This table contains the result of
14 the primary efficacy endpoint, relapse-free
15 efficacy, at 6 months for all 6 groups. The TQ 600
16 group had the highest relapse-free efficacy at the
17 6-month. A comparable proportion of subjects were
18 censored due to lack of baseline parasites, use of
19 an antimalarial drug, or lack of a 6-month
20 assessment in the CQ-alone group and in the TQ
21 300-milligram group.

22 Here, I'm going to report on the results for

1 only the CQ-alone group and the TQ 300-milligram
2 group. Those estimates and a confidence interval
3 are for relapse-free proportions at 6 months from a
4 Kaplan-Meier analysis. There was a statistically
5 significant difference in time to relapse and in
6 relapse-free proportions at 6 months, as indicated
7 by the p value from a log-rank test and the
8 confidence interval for difference in proportions.

9 Those remained significant even with a
10 stepwise comparison to control for multiple
11 comparisons. The survival analysis assumes
12 censoring as non-informative. When you consider
13 sensitivity analysis, considering censoring as a
14 failure, the results reach a similar conclusion.

15 The Kaplan-Meier curves for the CQ group,
16 the blue line, and the TQ group, the red line,
17 indicate the difference in time to relapse.

18 Subgroup analysis showed consistent
19 treatment results across age, gender, race, weight,
20 country, and a baseline parasite count. In
21 addition, in the CQ and CQ plus TQ 300-milligram
22 groups, their relapse-free proportions at 4 months

1 were similar as those at 6 months.

2 In conclusion, although the first part of
3 the study was designed to find the optimal dose to
4 evaluate in part 2, the selected 300 TQ group given
5 with CQ did demonstrate statistically significantly
6 improved efficacy compared with the CQ-alone
7 control group.

8 The second study, study 582 part 2, had a
9 similar design as part 1. The trial was conducted
10 in centers in Brazil, Ethiopia, Cambodia, Peru,
11 Philippines, and Thailand. Subjects were
12 randomized 1:2:1 to one of the 3 groups, CQ alone,
13 placebo, CQ plus TQ, given on day 1 or 2, the
14 300-milligram dose, CQ plus TQ once daily for 14
15 days. All subjects received CQ on days 1 to 3.

16 The third arm was to provide a concurrent
17 benchmark. The inclusion and exclusion criteria
18 were very similar to those in part 1.

19 The primary efficacy endpoint was
20 recurrence-free efficacy after 6 months. This
21 required initial clearance of *P. vivax* parasitemia,
22 defined as 2 negative asexual *P. vivax* parasite

1 count with at least 6 hours between counts, no
2 positive counts in the interval, and no presence of
3 *P. vivax* asexual stage parasites from initial
4 clearance to day 201.

5 The microbiologic ITT, micro-ITT population,
6 included all randomized subjects who received at
7 least 1 dose of study medication and had a positive
8 parasite smear of *P. vivax* at baseline. The
9 analysis method in this part included a Kaplan-
10 Meier analysis and a Cox proportional hazards model
11 with region and treatment as a covariate for time
12 to relapse.

13 Baseline characteristics were comparable
14 across groups. The observed recurrence-free
15 proportions at 6 months were comparable between the
16 TQ group and the PQ group at 68 percent and 64
17 percent, and higher than that in the CQ-alone group
18 at 26.

19 The proportion of subjects censored was the
20 same across groups. There was a statistically
21 significant difference between the TQ and the CQ-
22 alone group, as indicated by the p value from a

1 log-rank test and a confidence interval for the
2 difference in proportion.

3 A Kaplan-Meier curve for the CQ group, the
4 blue line, and the TQ group, the red line, indicate
5 the difference in time to relapse. The Cox
6 proportional hazard model showed similar results,
7 that the TQ group had a statistically significant
8 reduced risk of recurrence compared with the
9 CQ-alone group as indicated from the confidence
10 interval and the p value.

11 The survival analysis, when considering
12 censoring as treatment failure, also reached the
13 same conclusions. In addition, subgroup analyses
14 showed consistent treatment results across age,
15 gender, weight, race, region, and baseline parasite
16 count.

17 Additional early treatment endpoints were
18 collected in this trial. The results for those
19 early endpoints were similar across all treatment
20 groups, showing that TQ did not impact the efficacy
21 of CQ. Proportions of subjects with parasite
22 clearance was very high. The median time to

1 parasite clearance was comparable across groups.

2 The fever clearance proportion and a median
3 time to fever clearance were comparable. The
4 result for gametocyte clearance was very similar as
5 well. This table shows the result at 4 months as a
6 secondary analysis. In the CQ plus TQ group, the
7 relapse-free proportions at 4 months were about 8
8 to 9 percent higher than those at 6 months. The
9 difference in relapse-free efficacy at 4 months was
10 also statistically significant.

11 In conclusion, study 582 part 2 demonstrates
12 that there was a statistically significant
13 reduction in risk of recurrence at 6 months in a CQ
14 plus TQ group compared with CQ treatment alone.

15 The last study I will discuss is study 564.
16 The primary objective of this study was safety. It
17 was conducted in five countries and was active-
18 controlled. Subjects were randomized 2:1 to either
19 CQ plus TQ or CQ plus PQ group. As with other
20 trials, CQ was administered on days 1 to 3. This
21 study did not contain a CQ-alone group.

22 The inclusion and exclusion criteria are

1 very similar as in the previous trials. No primary
2 efficacy endpoint was defined. FDA's analysis
3 focuses on the recurrence-free efficacy 6 months
4 post-dosing, which was defined as having a positive
5 smear at baseline, initial clearance, and then no
6 positive smear results by day 201.

7 Initial clearance was defined as two
8 negative asexual *P. vivax* parasite count with at
9 least 6 hours between the counts and no positive
10 counts in the interval.

11 The primary analysis population for all
12 efficacy analyses was micrologic ITT, defined as
13 all randomized subjects who received at least 1
14 dose of blinded study medication and had a
15 microscopically confirmed *P. vivax* parasitemia.

16 The statistical method was the same as in
17 study 582 part 2. Notice that there was no plan to
18 assess noninferiority in this trial. I will report
19 the results from a comparison of recurrence-free
20 proportions.

21 Baseline characteristics were comparable
22 across groups. The observed proportions of

1 recurrence-free efficacy at 6 months was similar
2 between the two groups. The proportions with
3 recurrence and the proportions who were censored
4 were similar as well.

5 The difference in recurrence-free
6 proportions was negative 3.4 percent. The 95
7 confidence interval was minus 16 to 9.8 percent.
8 This implies that TQ could have had as much as 16
9 percent lower recurrence-free efficacy than PQ. I
10 had mentioned earlier the sponsor did not plan to
11 assess this study using noninferiority.

12 However, if we use the two previously
13 discussed studies to estimate treatment effect of
14 PQ, a conservative estimate would be 26 percent
15 over CQ alone. Based on this margin, TQ could be
16 considered non-inferior to PQ because a low limit
17 would exclude a margin of minus 26 percent.

18 In conclusion, this study supports efficacy
19 of TQ. In conclusion, the efficacy of TQ, 300
20 milligrams, single dose, were evaluated from 3
21 clinical trials. All three trials were randomized,
22 double-blind, double dummy, active control. CQ was

1 used to clear the initial blood-stage infection in
2 all subjects in all 3 trials.

3 The two trials using CQ alone as a control
4 group demonstrate a statistically significant
5 treatment effect for the proposed regimen for the
6 radical cure prevention of relapse of *P. vivax*
7 infection. The trial using CQ plus PQ group as a
8 control group indicated that the treatment group
9 produced similar efficacy results supporting the
10 efficacy of the product.

11 This concludes my presentation. Thank you
12 very much for your attention.

13 DR. BADEN: Thank you.

14 Dr. O'Shaughnessy will present the safety
15 data from the agency's perspective.

16 **FDA Presentation - Elizabeth O'Shaughnessy**

17 DR. O'SHAUGHNESSY: Good morning. I am
18 Elizabeth O'Shaughnessy, the safety reviewer for
19 this indication. I would like to present some of
20 our analysis of the safety data and I must say our
21 safety analyses are in general agreement with the
22 applicant's analysis.

1 This slide is just a high-level view of the
2 pharmacokinetic properties of tafenoquine, which
3 were presented earlier. I'll just make a few
4 points. The absorption of tafenoquine is increased
5 when taken with food and it was taken with food in
6 the clinical trials.

7 Its long half-life has implications for
8 safety because the symptoms of an adverse reaction
9 are kind of curtailed by stopping the drug. And
10 tafenoquine, which would be used in conjunction
11 with chloroquine, chloroquine also has a long half-
12 life, a median half-life of about 40 days.

13 With regard to drug-drug interaction
14 studies, there were no clinically significant PK
15 interactions between tafenoquine and other
16 antimalarials such as chloroquine and artemether-
17 lumefantrine.

18 So again, for the umpteenth time, these are
19 the clinical studies that we looked at for the
20 safety review. There were 3 double-blind
21 randomized trials. After tafenoquine plus
22 chloroquine in studies, these are in studies 582

1 part 1 and 2 in 564. Patients in these trials had
2 G6PD enzyme levels greater than 70 percent of
3 normal. Safety evaluations will also be presented
4 for 3 studies in healthy subjects, a thorough QT,
5 an ophthalmologic safety, and a study of the
6 hemolytic potential of tafenoquine, which will be
7 referred to later as study 027.

8 Study subjects received single doses of
9 tafenoquine from 100 milligrams up to 1,200
10 milligrams in these studies in safety assessments.
11 And these were useful because they did not have
12 confounders such as underlying disease or the
13 presence of chloroquine.

14 So with regard to exposure in the phase 2b/3
15 clinical trials, overall 483 patients were exposed
16 to tafenoquine, 300 milligrams, single dose plus
17 chloroquine. 264 patients were exposed to
18 primaquine plus chloroquine. And 187 patients were
19 exposed to chloroquine alone.

20 Exposure to tafenoquine in the three healthy
21 volunteer studies is summarized in this slide. 528
22 patients were exposed to tafenoquine in these three

1 studies. The thorough QT study enrolled 260
2 subjects who were G6PD normal and 156 received
3 single doses, tafenoquine capsules, from 300
4 milligrams to 1,200 milligrams.

5 Study 027 was an open-label dose-ranging
6 study of the hemolytic potential of tafenoquine
7 capsules, 100 milligrams, 200 milligrams, and 300
8 milligrams, single dose in healthy subjects. There
9 was a G6PD-deficient cohort in this study, which
10 included 27 heterozygous females with G6PD enzyme
11 levels in the 40 to 60 percent range and another
12 group with females with a level greater than 60
13 percent. And then there were 24 females in the
14 G6PD normal cohort.

15 The ophthalmology safety study was a
16 randomized placebo-controlled study conducted
17 primarily to investigate any retinal effects after
18 the tafenoquine 300-milligram single-dose and 330
19 healthy subjects were exposed to tafenoquine in the
20 study with 168 in the placebo arm.

21 This is an outline of the safety review. It
22 will cover common adverse events in the studies,

1 serious adverse events, and adverse events of
2 special interest associated with quinoline
3 antimalarials, which include the following
4 categories, hematologic, neurologic, psychiatric,
5 cardiac, and ophthalmologic.

6 In the vivax malaria trials, this is the
7 overview of the adverse events. Approximately two-
8 thirds of patients experienced adverse events and
9 the frequency of these events were similar across
10 the treatment arms. The frequency of serious
11 adverse events was also similar at 5 or 6 percent
12 and, at 3 arms, there were no deaths.

13 No patient withdrew from a trial due to an
14 adverse event and 3 percent or less discontinued 1
15 of the components of the regimen that they were
16 randomized to. So this is a graph of the common
17 treatment-emergent adverse events across study 582
18 part 1 and 2 and 564.

19 The gray bars depict chloroquine and
20 primaquine plus chloroquine is in blue and
21 tafenoquine plus chloroquine is in red. And as you
22 can see from the X axis, the difference is between

1 treatment groups for many of the adverse events.

2 What is it, a few percent or less?

3 Headache, pruritis, and dizziness were the
4 most common adverse events across the three
5 treatment arms. And as we know, pruritis is a
6 known side effect associated with chloroquine. If
7 we look at the tafenoquine plus chloroquine in red
8 bars, dizziness, and decreases in hemoglobin were
9 more common in the tafenoquine plus chloroquine
10 group than the chloroquine, suggesting an
11 association with tafenoquine.

12 Back pain and myalgia were also common, but
13 in association with tafenoquine, these events were
14 less obvious. Insomnia, which is associated with
15 other quinoline antimalarials at similar rates
16 across the 3 treatment groups, which is around 3
17 percent. And finally, if we look at chloroquine
18 again in the gray bars, headache, pyrexia, chills,
19 and diarrhea were more common. And some of these
20 symptoms, such as headache, pyrexia, and chills,
21 are probably associated with a higher number of
22 recurrences of vivax malaria in these patients.

1 In the 3 clinical trials, serious adverse
2 events included decreases in hemoglobin levels, QT
3 integral prolongation, and one possible case which
4 was called a drug-induced liver injury. 3.7
5 percent of patients in the tafenoquine
6 300-milligram arm experienced decreases in
7 hemoglobin as compared to 1.5 percent approximately
8 in the other two treatment groups.

9 QT prolongation was observed in less than 1
10 percent of subjects with tafenoquine compared to 3
11 percent in the chloroquine group. And 3 patients
12 in the chloroquine arm were discontinued because of
13 QT prolongation under ECG. And the patients were
14 asymptomatic.

15 As we know, QT prolongation is listed as an
16 adverse event in the drug labels for some quinoline
17 antimalarial drugs. There was 1 case of possible
18 drug-induced liver injury in a patient with vivax
19 malaria in the tafenoquine plus chloroquine group.
20 This patient we already discussed under hepatic
21 adverse events.

22 Elevated transaminases and bilirubin levels

1 at baseline associated with vivax malaria occurred
2 in all treatment groups. And elevated
3 transaminases post-baseline were observed in the
4 tafenoquine plus chloroquine group and I'll give
5 you some examples from study 582 part 2.

6 Two patients had elevated transaminase less
7 than 5 times the upper limit of normal on treatment
8 which resolved and both patients recovered. And
9 then the other patient was also described by the
10 applicant, which this patient was a 29-year-old
11 patient with vivax malaria who had elevated ALT and
12 bilirubin levels at baseline, which declined on
13 treatment. And then his ALT began to rise around
14 day 8 and, at day 22, his ALT levels were 10 times
15 the upper limit of normal.

16 The patient said that he had taken herbal
17 medications after he was discharged from the
18 hospital and, once these herbal medications were
19 stopped, his ALT levels returned to normal and, by
20 day 42, he was within the normal range. However, I
21 guess one cannot rule out an effect of tafenoquine
22 plus chloroquine in this patient because of their

1 long half-lives.

2 I've only shown patients with elevated
3 transaminases potentially related to the study
4 drugs. Other significant post-treatment elevations
5 in ALT were associated with concurrent viral
6 hepatitis, a relapse from vivax malaria.

7 With regard to cardiac and renal treatment-
8 emergent events in the tafenoquine plus chloroquine
9 group, there was really no significant events.
10 Less than 5 percent of patients in any of the three
11 treatment groups had an increasing QT greater than
12 60 milliseconds and an interval greater than 400
13 milliseconds. And patients were asymptomatic.

14 In the thorough QT study, there was no
15 effect on prolongation of the QT interval with the
16 tafenoquine, 300-milligram and 600-milligram doses.
17 And the maximum dose of 1,200 milligrams, which is
18 4 times the recommended dose, did not prolong the
19 QT interval to any significant extent. Elevations
20 in creatine from baseline were mild and transient.

21 The following slides describe safety data
22 from 3 healthy volunteer studies that evaluated

1 tafenoquine doses from 100 up to 1,200 milligrams.
2 So in the thorough QT studies, adverse events,
3 which I outlined in red, occurred with greater
4 frequency in the tafenoquine 300-milligram versus
5 placebo and these included nausea, vomiting,
6 headache, and dizziness.

7 If you look at the nausea in the first row,
8 this increase from 10 percent to 33 percent is the
9 dose of tafenoquine increased from 300 milligrams
10 up to 1,200 milligrams, which suggests that nausea
11 is associated with tafenoquine.

12 There are transient mild reversible
13 elevations and hepatic transaminases in this study.
14 The next study talks about serious adverse events
15 in the thorough QT study. The 2 cases of
16 hypersensitivity have been discussed in detail by
17 the applicant and I'm not going to dwell on this
18 slide.

19 I'd just mention that the CPK elevations
20 were associated with patients who did not follow
21 protocol instructions and participated in strenuous
22 physical exercises during this study.

1 This is 027. They studied the hemolytic
2 potential of tafenoquine capsules. Thought it
3 would be interesting to look at some of the adverse
4 events in this study because there's a G6PD-
5 deficient cohort and you will see their hemoglobin
6 levels later.

7 Decreases in hemoglobin was the most common
8 adverse event in the study and dizziness and
9 headache were not reported in the tafenoquine
10 100-milligram arm in this study. In the
11 ophthalmology safety study, there were no deaths or
12 serious adverse events. Headache, nausea,
13 dizziness, somnolence, and dysplasia were reported.
14 There were no significant decreases in mean
15 hemoglobin levels.

16 I will discuss the ocular findings later.
17 So now to move on to switch to adverse events of
18 special interest, which are associated with
19 quinoline antimalarials, I apologize. I'm going to
20 show you a lot of tables back to back.

21 As mentioned previously, decreases in
22 hemoglobin levels were more frequent in patients

1 treated with tafenoquine, 300 milligrams plus
2 chloroquine, 3.7 percent, then patients with
3 primaquine plus chloroquine and chloroquine alone.
4 And the following tables describe hemoglobin
5 decreases during the first month post-treatment in
6 the clinical trials and a similar pattern emerges.

7 This is study 582 part 1, which was a dose-
8 ranging study of 50 milligrams of tafenoquine up to
9 600 milligrams. 2 to 4 percent of patients had
10 drops in hemoglobin from baseline greater than 2.5
11 grams, which was a pre-specified cut-off for a
12 serious adverse event in the trial.

13 Most patients had drops in hemoglobin to 1.5
14 grams or less, which was not considered clinically
15 significant and all patients recovered without
16 medical intervention such as blood transfusion.
17 The decreases in hemoglobin were associated with
18 small increases in reticulocytes over time across
19 all treatment groups.

20 In study 582 part 2, 5 percent of patients
21 experienced drops in hemoglobin of greater than 3
22 grams, again which was this pre-specified cut-off

1 for a serious adverse event as compared to 2
2 percent of patients treated with primaquine plus
3 chloroquine or chloroquine alone. The patients
4 were asymptomatic.

5 The maximum decline was in a patient who
6 dropped their hemoglobin 4.2 grams with a baseline
7 level of 15.6 grams. In this trial, the subjects
8 in the chloroquine recovered their baseline
9 hemoglobin levels around day 22 post-treatment in
10 subjects in the tafenoquine plus chloroquine and
11 primaquine groups returned to the baseline
12 hemoglobin levels are higher around day 29.

13 An increase in reticulocyte counts, small
14 increases, were observed associated with the
15 decrease in hemoglobin levels. So this is study
16 564. 2 percent of patients experienced drops in
17 hemoglobins greater than 3 grams. In this study,
18 the maximum drop in hemoglobin was in a patient who
19 dropped their hemoglobin 5.3 grams from a baseline
20 of 19.2.

21 In the thorough QT study, the majority of
22 patients experienced decreases in hemoglobin levels

1 of less than 2 grams. Obviously, there was no
2 chloroquine in this study. And actually, this also
3 included patients in the moxifloxacin and placebo
4 groups.

5 The hemoglobin declines in tafenoquine were
6 the same as placebo. In this study, there were no
7 significant changes in reticulocyte counts,
8 haptoglobin, or indirect bilirubin. Small
9 increases in reticulocyte counts and indirect
10 bilirubin were noted for the 1,200-milligram dose.

11 In study 027, in the G6PD normal group,
12 hemoglobin declines were small, less than or equal
13 to 2. We know that primaquine can cause hemolysis
14 in individuals with G6PD deficiency. And in this
15 study, a greater proportion of patients in the G6PD
16 and G6PD-deficient group are in the lower half of
17 the graph.

18 In this study, a greater proportion of
19 patients in the G6PD-deficient group treated with
20 tafenoquine experienced declines of hemoglobin
21 greater than 2 grams as compared to their G6PD
22 normal counterparts. However, the numbers in this

1 study are quite low.

2 In the G6PD-deficient group, mean
3 reticulocyte counts were higher in the tafenoquine
4 300-milligram group and primaquine groups as
5 compared to the G6PD normal cohort and mean
6 haptoglobin levels decline from baseline in the
7 tafenoquine 300-milligram and primaquine groups.

8 All of these patients recovered without
9 blood transfusion. Methemoglobin levels for this
10 study are not shown, but 3 G6PD-deficient patients
11 who received tafenoquine has met the hemoglobin
12 increases of greater than 5 percent. The normal
13 would be less than 2. No subjects had clinical
14 signs of symptoms of methemoglobinemia.

15 So we looked at methemoglobin in the
16 clinical trials and this slide shows elevations in
17 methemoglobin greater than 10 percent at any post-
18 baseline visit by treatment arm as well as the
19 maximum value for methemoglobin percent and patient
20 symptoms.

21 I would like to point out that there is an
22 error on the slide. The numbers and percentages

1 are incorrect for the study 582 part 2 in the
2 middle row. It should be 2 percent for tafenoquine,
3 7 percent for the primaquine group, and 4 percent
4 for the chloroquine group. However, the conclusion
5 remains the same, that methemoglobin levels greater
6 than 10 percent occurred more frequently in
7 patients who had received primaquine plus
8 chloroquine.

9 But maximum increases from patients who had
10 normal levels of baseline was just a couple of
11 percent. These levels greater than 10 percent must
12 have been transient, as patients were general
13 asymptomatic and there was no reports of cyanosis
14 or other symptoms of methemoglobinemia.

15 So in summary, for the hematologic findings,
16 the nadirs in hemoglobin levels were not in the
17 anemic range in the G6PD normal patients and
18 patients recovered without medical interventions
19 such as blood transfusion.

20 Decreases in hemoglobin were accompanied by
21 reticulocytosis without significant changes in
22 haptoglobin or indirect bilirubin. I would suggest

1 that patients who present with anemia should be
2 monitored for hemoglobin levels.

3 The decreases in hemoglobin were probably
4 related to underlying vivax malaria and rehydration
5 may have contributed. Elevations in methemoglobin
6 levels were observed, but were more frequent in the
7 primaquine plus chloroquine arm and patients were
8 asymptomatic.

9 There was a small number of patients with
10 G6PD levels less than 70 percent of normal, which
11 was difficult to draw any conclusions from.

12 I am now going to switch to the neurologic
13 adverse events that we observed. The most common
14 neurologic adverse events associated with
15 tafenoquine and chloroquine in the phase 2b/3
16 clinical trials were dizziness and headache. And
17 these adverse events were generally mild and self-
18 limiting.

19 With regard to psychiatric adverse events,
20 these included anxiety and insomnia, which were
21 transient. Insomnia caught similar rates at
22 approximately 3 percent in the tafenoquine plus

1 chloroquine and primaquine plus chloroquine
2 treatment groups.

3 There was 1 case of depression or depressed
4 mood in the primaquine arm. As we know, insomnia
5 is associated with the quinoline antimalarials, at
6 least some of them.

7 This is just a more detailed look at the
8 psychiatric cases, which were described earlier.
9 So there were 2 male patients in the clinical
10 development program who experienced an episode of
11 psychosis following doses of 350 milligrams and 500
12 milligrams. 1 patient had a history of psychotic
13 episodes and the other patient had a recent
14 diagnosis of schizophrenia.

15 There were 2 cases of depression or
16 depressed mood. In clinic doses greater than 300
17 milligrams, 1 healthy subject with no psychiatric
18 history developed depressed mood on day 4 following
19 a single dose of 600 milligrams and his symptoms
20 resolved within 3 days without treatment.

21 One patient with vivax malaria who had a
22 history of depression and diazepam use was

1 hospitalized for depression at day 88 post-
2 tafenoquine. And he was discharged within 2 days
3 and received a psychiatric referral. It is not
4 known when his symptoms started in relation to
5 tafenoquine.

6 In the ophthalmology study, ophthalmologic
7 adverse events were infrequent across in the
8 clinical trials. In the safety study, a
9 comprehensive look at a significant battery of
10 tests were used to look for retinal toxicity. No
11 retinal toxicity or other significant ocular
12 abnormality were observed with the tafenoquine
13 300-milligram dose and our ophthalmology
14 consultants looked at the data and found no
15 significant ocular risk from the use of tafenoquine
16 300-milligram single-dose.

17 So in summary, we found that tafenoquine was
18 reasonably safe and plus chloroquine was reasonably
19 safe and well tolerated, nausea, dizziness,
20 headache, pruritis, and insomnia were relatively
21 common adverse events in the patients with vivax
22 malaria. These events were less common in the

1 healthy volunteer studies except for nausea.
2 Hemoglobin declines of greater than 3 grams
3 were seen in some patients who were G6PD normal.
4 Looking at hemoglobin levels where it can be done
5 would be important in patients, especially those
6 who present with vivax malaria and anemia. Caution
7 is advised in patients who have a history of
8 psychiatric disorders. And finally, there were no
9 ocular adverse events and no QT interval
10 prolongation. This completes the safety
11 presentation. Thank you for your attention.

12 **Clarifying Questions**

13 DR. BADEN: Thank you very much to both of
14 our presenters and the agency for giving a careful
15 analyses of the datasets available. One clarifying
16 question about the datasets you had available. If
17 I understand from the applicant's presentation,
18 they have about 4,129 people exposed.

19 Presumably the safety dataset that you
20 looked at was all exposed, not just those in these
21 three studies. Is that correct?

22 DR. O'SHAUGHNESSY: I focused on the three

1 studies.

2 DR. BADEN: Can you pull the microphone
3 toward you?

4 DR. O'SHAUGHNESSY: I focused on the 3
5 clinical studies and the three healthy volunteer
6 studies.

7 DR. BADEN: Studies, okay, but not all
8 exposed?

9 DR. O'SHAUGHNESSY: Not all exposed.

10 DR. BADEN: Thank you. We will now begin
11 questions from the panel members. Remember to
12 state your name for the record before you speak and
13 direct questions to a specific presenter.

14 So we'll start with Dr. Moore.

15 DR. MOORE: I'm sorry, Elizabeth. Would you
16 be able to give those numbers again on slide 24,
17 the ones where they were an error regarding the
18 hemoglobinemia rates? I didn't quite catch that.
19 And by the way, thank you for an excellent and very
20 thorough presentation.

21 DR. O'SHAUGHNESSY: I just looked at
22 subjects who had any elevation in methemoglobin

1 greater than 10 percent at any time post-baseline.
2 The applicant can correct me if my numbers are
3 incorrect. So that's what I looked at. And there
4 were a greater proportion of patients in the
5 primaquine plus chloroquine group who had levels
6 greater than 10 percent.

7 DR. MOORE: Right, but I apologize. You
8 said that the numbers in the slide were incorrect
9 and you gave them corrected specific ones?

10 DR. O'SHAUGHNESSY: The corrected ones, yes.
11 I had 2 percent for tafenoquine, 7 percent for
12 primaquine, and 4 percent for chloroquine.

13 DR. BADEN: That's 582 part 2.

14 DR. O'SHAUGHNESSY: That's 582 part 2 in the
15 middle row of the table.

16 DR. MOORE: Got it. And you wouldn't happen
17 to have the numbers in addition to those
18 percentages?

19 DR. O'SHAUGHNESSY: I have them somewhere.
20 I will get them for you. If I remember correctly,
21 the primaquine is 19. And I don't quite remember
22 the numbers for tafenoquine and chloroquine, but I

1 know that primaquine is 19.

2 DR. MOORE: That's okay. I'll try to rely
3 on my rudimentary math skills.

4 DR. O'SHAUGHNESSY: I'll give you the
5 numbers.

6 DR. MOORE: No, no. It's fine. Thank you
7 very much. Appreciate it.

8 DR. BADEN: Just a question about the drop
9 in hemoglobin. Is there a mechanism that is
10 understood as to why the hemoglobin has dropped 2
11 to 3 grams percent since there isn't hemolysis?

12 DR. O'SHAUGHNESSY: I don't think we have a
13 mechanism. Our clinical microbiologist was
14 discussing whether there was some shrinkage in red
15 cells with tafenoquine. I think she would like to
16 comment on that.

17 DR. BADEN: If you can go to the microphone,
18 state your name.

19 DR. BALA: Thank you. I'm Shukal Bala, the
20 clinical microbiologist for this application. Yes,
21 there was an in vitro study where human red blood
22 cells were shown to have shrinkage of the size. It

1 was done by flow cytometry and the [indiscernible]
2 size characters were reduced. And there were some
3 changes in the membrane as well. It's based on a
4 published study.

5 DR. BADEN: As we ask clarifying questions,
6 clarifying questions to the agency, questions may
7 come up that the applicant is in a position to
8 better explain. What I would ask is if the
9 applicant can keep a running list and then, after
10 we've completed our clarifying questions to the
11 agency, we can ask you to clarify any other issues
12 that get raised during this part of the discussion.

13 Dr. Clark?

14 DR. CLARK: This is a question for Dr. Li.
15 In the FDA briefing document, there was mention of
16 a meta-analysis done for parts 1 and 2. And I was
17 just wondering if it was assessed as part of that
18 subgroups in terms of efficacy based on, for
19 example, gender, or weight, or race.

20 DR. LI: We conducted a meta-analysis
21 meaning for the efficacy in order to interpret
22 study, observe study. We did subgroup analysis for

1 each study and we have slides. Let me find a
2 number, slide 1.

3 Yes. This is the subgroup analysis for
4 part 1. And this graph shows the estimated
5 difference in the confidence interval for
6 subgroups. We also have a similar result for part
7 2, but we did not conduct a meta-analysis for these
8 two parts combined.

9 DR. BADEN: In these analyses of efficacy,
10 did you look for center effects or that was not
11 deemed necessary?

12 DR. LI: We did analysis by country, but we
13 did not look at centers because sometimes the
14 sample size is so small it's hard to interpret the
15 results.

16 DR. BADEN: Dr. Follmann?

17 DR. FOLLMAN: The TQ group here that is the
18 300-milligram dose --

19 DR. LI: TQ, yes. We focused on the
20 300-milligram dose only in the slide.

21 DR. BADEN: Do you have more questions,
22 Dr. Follmann?

1 DR. FOLLMAN: Not related to that.

2 DR. BADEN: If there are follow-on
3 questions, please do get mine or Ms. Bhatt's
4 attention.

5 DR. FOLLMAN: So my question was brought up
6 before actually and has to do with the exclusion
7 criteria, where people with severe P. vivax were
8 excluded. I was wondering why that was and it
9 seems like those people would just be at risk of
10 relapses, people with non-severe P. vivax.

11 So you might want to logically give them the
12 drug, but we don't have any data for it, so why
13 were they excluded? And would people logically
14 wait, like a couple weeks after they're
15 successfully treated, and then give them
16 tafenoquine?

17 DR. BADEN: So I guess the agency can give
18 their perspective and we'll wait until after the
19 agency discussion to go back to the applicant for
20 those clarifications. I don't know if the agency
21 has a strong opinion on the severe exclusion
22 criteria.

1 DR. O'SHAUGHNESSY: For severe patients, you
2 would have to give them intravenous treatment, so
3 you wouldn't start with oral therapy. I would
4 think that would be the reason for excluding them.

5 DR. FOLLMAN: So you couldn't treat them, I
6 guess, when they're getting the blood stage cleared
7 up, but in principle, like two weeks later, if they
8 were successfully treated, they might benefit.

9 DR. O'SHAUGHNESSY: That's true. That's
10 possible.

11 DR. BADEN: A corollary to Dr. Follmann's
12 question is -- and this, Dr. Kartsonis raised
13 before -- is there any reason to believe this has
14 to be given with chloroquine or can it be given
15 separate from chloroquine when the patient is
16 appropriately stabilized?

17 DR. O'SHAUGHNESSY: It wasn't studied that
18 way, but I'm sure it could be used just like
19 primaquine is. Dr. Green, would you like to
20 comment?

21 DR. BADEN: We'll ask that question again to
22 them when we come back to their clarification.

1 DR. O'SHAUGHNESSY: Sure. I'm sure it could
2 be used like primaquine.

3 DR. BADEN: Dr. Tan has a follow-on.

4 DR. TAN: This is a follow-on question
5 related to severe malaria, actually mostly a
6 comment and also the question about why this wasn't
7 studied in severe malaria.

8 I think the concern with tafenoquine in
9 severe malaria is that hemoglobin drop. And so you
10 have a very ill patient already with severe
11 malaria. And while their studies in uncomplicated
12 malaria showed no symptoms due to this hemoglobin
13 drop, I would imagine it may be different and have
14 different consequences in a patient with severe
15 malaria.

16 Then the second kind of more comment and
17 thought is that, in patients who are treated with
18 IV artesunate, there is a recognized post-
19 artemisinin and delayed hemolysis that happens
20 after that IV treatment. And so if you were to
21 give tafenoquine with that, what would the
22 consequences be? So there are some questions

1 related to giving patients with severe malaria
2 tafenoquine.

3 DR. BADEN: Fair enough. But just to drill
4 down a little bit to help educate the group, what
5 if the tafenoquine were given a day plus 10, a
6 chloroquine-tafenoquine combination, but it's given
7 a day plus 10, not day plus 1, again as terminal
8 prophylaxis? Wouldn't the hemoglobin drop be more
9 manageable at that point because the acute
10 treatment would be done?

11 DR. TAN: So in a patient with severe
12 malaria, they would get artesunate and then the
13 tafenoquine. The PADH-post-artemisinin and delayed
14 hemolysis is observed between 1 to 3 weeks after
15 the artemisinin. And so that would overlap with
16 the levels of the tafenoquine.

17 DR. BADEN: Thank you. Dr. Orza?

18 DR. ORZA: I have one general question and
19 then some specific ones, but I'll get back online
20 for those. And my general question comes from the
21 fact that this is not my home committee and so I
22 don't fully appreciate the context for considering

1 this drug, but to what extent are we supposed to
2 focus almost exclusively on approval in the U.S.,
3 for use in the U.S.?

4 If we are to focus on that, how unusual is
5 it to have no data on patients in the use where it
6 will be used in this kind of arena. Maybe it's
7 typical for this kind of drug. And to what extent
8 should we be conscious of the fact that this is
9 also part of the pathway to WHO pre-qualification
10 and much wider global use as the sponsor has
11 indicated and be considering all of the other
12 issues that, that brings in, just would appreciate
13 some framing.

14 DR. BADEN: I suspect Dr. Cox may assist
15 with how to frame those issues.

16 DR. COX: Yes. So we are asking the
17 question with regards to approval for the U.S. We
18 recognize that, for drugs for tropical diseases,
19 what we do here in the U.S. has implications for
20 the world, so that's also clearly recognized when
21 we're looking at these products.

22 Your question about not having U.S.

1 patients, so if we look back at malaria drugs,
2 because of the places in the world where malaria
3 occurs and the very, very limited number of
4 patients, which are imported cases here in the U.S.
5 for the most part, we typically see that for
6 diseases such as malaria, other tropical diseases,
7 the data that we have from patients with malaria is
8 going to be from ex-U.S. [ph].

9 So that's something to think about and think
10 if that impacts your decision or your
11 recommendation for today. So it's something to
12 consider. And you heard some of the questions when
13 folks were asking about BMI and trying to
14 understand how the BMI of the patients in the trial
15 related to what we might expect for BMI here in the
16 U.S., recognizing that exposure is an important
17 thing to think about.

18 When we look at data from ex-U.S., you know,
19 we at the FDA look at the data. And we try and
20 make the assessment of, is the data that we have
21 from ex-U.S. relevant to the U.S. population and,
22 if we think so, why.

1 So that's something else to consider and you
2 can see that that's important because we are
3 approving the drug for the U.S. population. And
4 then I think your third question was about the WHO
5 pre-qualification process. So I guess what I would
6 say there is that, again, that's the recognition
7 that what we do here in the U.S. with regards to a
8 product that will be used globally has implications
9 for other parts of the world.

10 Certainly, we would think that it would have
11 implications for what the WHO might do and then may
12 also have implications for regulators in affected
13 areas and could also be a resource that they might
14 consider as they're evaluating the product, too.
15 So hopefully I've gotten most of your questions,
16 but I'll pause there and make sure I have.

17 DR. ORZA: Yes, thank you very much, just
18 one follow-up in terms of other kinds of
19 differences that there might be in the U.S.
20 population. I mean, if this is largely returning
21 travelers or visitors, in the U.S. population, in
22 addition to the BMI differences and possibly the

1 fact that this will be their first infection.

2 Might also be things like maybe they were
3 taking prophylaxis ineffectively. Probably,
4 they're taking other medications. I mean, the
5 average person in the U.S. is taking something
6 like, I don't know, three or four medications. And
7 so we don't have any data on those sorts of things.
8 Right, in the U.S.?

9 DR. COX: So I think you're asking about the
10 issue of drug interactions. And I'll look to our
11 clin pharm folks to see if there's anything that
12 they may be able to add to your question. Hang on
13 just a second.

14 DR. WEI: Tracey Wei, the clinical
15 pharmacology reviewer from the FDA. So regarding
16 to the drug-drug interaction, I think just
17 regarding to the effect of the other drug on the
18 PK, of the tafenoquine, because the tafenoquine
19 according to the applicant's data, tafenoquine
20 undergoes a minimal hepatic metabolism and also the
21 minor renal elimination, so we don't expect there
22 would be some clinically significant drug-drug

1 interaction with regards to the metabolism of
2 tafenoquine.

3 Also, there is clinical data that shows that
4 there's no clinically relevant drug-drug
5 interaction of the tafenoquine between the commonly
6 used antimalarial drug, including the chloroquine
7 and also artemisinin combination therapy. And
8 regarding the effect of the tafenoquine to the PK
9 of other drugs, the clinical study demonstrates
10 that there's no clinically relevant GDI effect
11 between the tafenoquine to most of the CYP enzyme
12 substrates. I hope that answers your question.

13 DR. ORZA: Thank you.

14 DR. BADEN: Dr. Cox, if I hear you
15 correctly, studies don't have to be done on U.S.
16 patients, but we need to be persuaded that the data
17 generated are convincing for the efficacy, safety
18 claims, and we think it reasonably applies to how
19 it might be used in the U.S.

20 DR. COX: That's correct. Thank you.

21 DR. BADEN: Dr. Weina, are you at a follow-
22 on comment?

1 DR. WEINA: I just had one additional
2 comment there. I think that one of the other
3 things that we have to consider with this, even
4 though
5 it's not necessarily a U.S.-based population is
6 that the drug, especially a drug like this, is
7 potentially going to be used in an eradication
8 effort worldwide.

9 When it comes down to funding, for example,
10 USAID money is preferentially used in U.S.-approved
11 drugs rather than in U.S.-non-approved drugs and
12 that's a very important thing to consider as well
13 when we're taking a look at this drug.

14 DR. BADEN: Agreed, Dr. Weina, but I'm not
15 sure. I mean, the data still need to be compelling
16 that there is a clinical benefit.

17 DR. WEINA: I'm not disagreeing with you at
18 all on that.

19 DR. BADEN: There may be additional
20 potential benefits, but we are evaluating the data
21 before us, that we think there is meaningful
22 efficacy with reasonable safety.

1 DR. WEINA: Absolutely, but I guess from my
2 perspective, I'm also looking at it from the fact
3 that we have a lot of people that go into harm's
4 way, if you will, that will be potentially using
5 drugs like this as well, that may not necessarily
6 be treated here in the U.S.

7 DR. BADEN: Agreed. Treating U.S. citizens
8 may not just be on U.S. territory. And whether
9 U.S. citizens are really different than other
10 citizens may be another question, but if it's
11 effective, it's effective and can be used in that
12 vein. Dr. Green has a follow-on question?

13 (Dr. Green gestures no.)

14 DR. BADEN: If no other follow-ons, then I
15 think Dr. Honegger?

16 DR. HONEGGER: Dr. Honegger. I have a
17 clarifying question on table 21 from Dr. Li. My
18 general understanding is that the early response to
19 treatment was good in all arms on these trials,
20 efficacy trials, but the fever clearance percents
21 are in the 30s.

22 So I just was wondering if you could clarify

1 when was fever clearance determined, at what time
2 point, because I would expect it to be closer to
3 100 percent.

4 DR. LI: I think, at the very beginning,
5 patients had very frequent visits. So they might
6 capture the time to fever accurately at the very
7 beginning, but later on, they did not have very
8 frequent visits, so I would like to defer this
9 question to the sponsor. They might be more
10 familiar with the study design for the early part
11 of the study. Thank you.

12 DR. BADEN: We'll get back to that after
13 we're done with this segment. I think Dr. Beyrer
14 is next.

15 DR. BEYRER: Thanks, Chris Beyrer. This is
16 a question for Dr. Li again. In the presentation
17 of the data that you showed, that basically is a
18 kind of post-hoc noninferiority analysis, it looked
19 to me as though the confidence interval's wrapped
20 around 1 and the test for noninferiority was met,
21 but then you didn't present a p value or a
22 statistical test around that confidence interval.

1 When we've spoken about the numbers, I don't
2 think I heard it clearly. Maybe you could
3 just -- I think it's actually on that handout that
4 I have. The font size is too small to see which
5 slide it is for me, anyway. But it's the
6 noninferiority test slide. You know the one that
7 I'm referring to?

8 DR. LI: Yes. We did meta-analysis from
9 part 1 to part 2 to derive a margin. It's 22, 23
10 percent. Then from this study, we conclude the low
11 confidence interval limit meets the defined margin
12 from part 1 and part 2.

13 So it's the issue, inferiority, if we used
14 treatment effect from part 1 and part 2, but we did
15 not report the p value for the two comparisons. We
16 just reported numerical results, but internally, we
17 can think we interpreted, admit the noninferiority
18 margin if we use the margin from part 1 and part 2.
19 Thank you.

20 DR. BADEN: Dr. Nambiar, did you have a
21 comment?

22 DR. NAMBIAR: Sorry. We were just

1 discussing. And maybe the sponsor or applicant can
2 correct us, but there are some healthy volunteers
3 who were enrolled in U.S. sites, so there is some
4 exposure data in U.S. subjects.

5 DR. BADEN: Okay. We will clarify that. So
6 I'm back in line. Follow-on, Dr. Moore? Then we
7 have Dr. Zito?

8 DR. ZITO: Julie Zito. I wanted to raise
9 the issue of the psychiatric adverse events and how
10 the labeling will be, for example, in post-
11 marketing surveillance. Will there be efforts to
12 screen patients for prior psychiatric events?
13 Because it could make a good deal of difference in
14 the acceptability of the drug among all the
15 patients in programs.

16 DR. BADEN: So your question is, post-
17 marketing, is the labeling post-marketing
18 surveillance?

19 DR. ZITO: Both, both.

20 DR. COX: Yes. So this is an area, too,
21 where I think we're looking for your advice. If
22 you have particular recommendations on this -- and

1 you've heard some of what the sponsor's talked
2 about with regards to their proposal for post-
3 marketing, and certainly we do follow drugs in the
4 post-marketing period because we learn more as
5 drugs get out into larger numbers of patients in a
6 more heterogeneous patient population.

7 So we appreciate your comments, and any
8 advice you have on that, we welcome.

9 DR. BADEN: If I understand the data, there
10 were two schizophrenic episodes, one of which was a
11 new diagnosis. Was that new diagnosis predating or
12 postdating the use of the tafenoquine?

13 DR. O'SHAUGHNESSY: It was predating.

14 DR. BADEN: So the new diagnosis predated
15 treated exacerbated; whether relationship or not is
16 always hard to know, and that all 4 identified, the
17 two depressions, the two psychoses were all in a
18 higher than the 300-milligram dose, because I
19 thought I --

20 L17; There are higher, but one dose was 350
21 milligrams, which was --

22 DR. BADEN: 50, yes. One was 350, but they

1 were all greater than the 300-milligram dose.

2 DR. O'SHAUGHNESSY: That's correct.

3 DR. BADEN: Both of the psychoses had a pre-
4 existing psychosis.

5 DR. O'SHAUGHNESSY: Yes.

6 DR. BADEN: Just a lot data presented, to
7 make sure that was clear. Then Dr. Green?

8 DR. GREEN: Yes. I'm just looking for
9 clarification of what breakthrough therapy
10 designation means and what implication it might
11 have on our thinking and our recommendations.

12 DR. BADEN: Dr. Nambiar?

13 DR. NAMBIAR: So breakthrough designation is
14 one of many designations we can give products.
15 This is based on preliminary clinical evidence that
16 suggests that the product that's in development
17 offers some benefit over currently available
18 therapy. So it's based on clinical data, and it
19 has to be preliminary clinical evidence. It
20 doesn't have to rise to the level of being
21 statistically significant, which would be needed
22 for approval.

1 So it's a designation that's given to
2 products in development. What it provides for
3 sponsors is more frequent interactions with the
4 agency. But the approval mechanism doesn't change,
5 so our standards for approval do not change. The
6 applicant still has to provide adequate evidence to
7 support effectiveness and obviously enough
8 information to support the safety.

9 DR. GREEN: Does it impact at all on sort
10 of -- and for this particular set of studies, at
11 least one of them, they renegotiated the population
12 size due to difficulties in accruing. And so are
13 you all differentially flexible when they had that
14 because of the preliminary data that supports or
15 no?

16 DR. NAMBIAR: No. So that's really not
17 necessarily based on whether or not the product has
18 got breakthrough therapy designation or not. I
19 think it was a scientific sound decision, and I
20 think we had conversations with them. So I think
21 that's irrespective of whether or not there is
22 breakthrough designation.

1 DR. BADEN: Dr. Moore? New question, then,
2 Dr. Orza?

3 DR. ORZA: I had two questions. One was
4 about the special safety studies and they were
5 single blinded. And I was just wondering if that
6 was typical and, if so or if not, if there's any
7 opportunity for that to have affected the findings,
8 and then I can wait for the answer to that for my
9 second one.

10 DR. O'SHAUGHNESSY: Are you talking about
11 the ophthalmology safety study?

12 DR. ORZA: Yes, the ophthalmology one and I
13 think it was the cardiovascular one.

14 DR. O'SHAUGHNESSY: The QTc study?

15 DR. ORZA: The QT, yeah, QTc study.

16 DR. O'SHAUGHNESSY: Honestly, I don't
17 actually know the answer to that question. I might
18 have to defer that to the applicant.

19 DR. BADEN: Did you have another question,
20 Dr. Orza?

21 DR. ORZA: In the larger safety
22 database -- and maybe this has to go to the

1 sponsor, too -- of the over 4,000 patients, is
2 there any longer-term data on any of the
3 ophthalmologic, or hematologic, or hepatologic, or
4 any of those?

5 DR. O'SHAUGHNESSY: There is ophthalmology
6 data for the multiple-dose studies and longer-term
7 studies that I don't have with me, but I think the
8 sponsor can answer.

9 DR. BADEN: Dr. O'Shaughnessy, just the
10 reason you focused on the data you focused on is
11 those with a single dose.

12 DR. O'SHAUGHNESSY: Yes.

13 DR. BADEN: The multiple dose is for another
14 time because that is a different use?

15 DR. O'SHAUGHNESSY: Correct.

16 DR. BADEN: I just want to make sure why
17 certain data were looked at and certain were not.
18 So all of the single-use data, you evaluated?

19 DR. O'SHAUGHNESSY: Yes. I focused on
20 single dose.

21 DR. BADEN: Single dose, thank you.

22 Dr. Moore?

1 DR. MOORE: Minor question, and I don't know
2 if FDA or the sponsor could answer this, so just a
3 quick question about the methemoglobin levels, but
4 obviously the levels get bumped up with various
5 drugs, but the question I have is with regard to
6 the tafenoquine.

7 I know what the maximum methemoglobin level
8 was in the treatment. Is there a minimum level?
9 Was there a minimum level that was seen of
10 elevation?

11 DR. BADEN: So what you're saying is, most
12 people had zero, some people had some, which was
13 above zero, and the highest was the 13.4 percent, I
14 think that I saw.

15 DR. MOORE: Correct. I didn't see 0.

16 DR. BADEN: But I assumed 0 was in the
17 majority of the -- but that's my assumption.

18 DR. MOORE: No. No, no. What I'm saying
19 is, all patients treated with tafenoquine, or
20 primaquine, or chloroquine will have some bump in
21 methemoglobin. I'd like to know what the lower
22 limit or the lowest number. I need to know the

1 range. I'd like to know what the lowest level
2 raised was in the study.

3 DR. O'SHAUGHNESSY: I saw increases from
4 baseline of 1 to 3 percent, but I don't know what
5 was the lowest level a patient had.

6 DR. MOORE: That's fine. Yes. That's fine.
7 I mean, just a minor increase in 1 to 3 percent is
8 adequate. Thank you.

9 DR. BADEN: Dr. Clark?

10 DR. CLARK: This question is for Dr. Cox or
11 Nambiar and I just wanted to clarify that the
12 number of patients that made up the safety analysis
13 for those treated for malaria, which is about 500
14 or so. That was endorsed by the FDA. And how do
15 you arrive at that number generally, of what you
16 think is enough to look at safety?

17 DR. NAMBIAR: It's not like we'd really have
18 a magic number. Typically, when you look at the
19 design of the studies and the overall development
20 program, the number of patients that you need in
21 these studies to be able to demonstrate efficacy is
22 sort of -- and if that number falls within a

1 reasonable safety database, we are okay. I mean,
2 300 is the bare minimum. And in our guidances, we
3 do say, especially for unmet need programs, you
4 need a minimum of 300.

5 So in this instance, we felt getting a
6 safety database of around 500 at the proposed dose
7 would be adequate. And this is an analog of
8 primaquine, so we do have a fair amount of
9 experience with humans having seen primaquine. The
10 safety concerns were not any different from what is
11 known for the antamin or quinoline 8-aminoquinoline.

12 So I think we take all that into
13 consideration and make a decision around the safety
14 database. Larger is better, but I think we also
15 have to consider feasibility.

16 DR. CLARK: Thank you.

17 DR. BADEN: If I understand Dr. Nambiar, the
18 basis of that is, you need about 3X to see an event
19 rate, so 300 gives a chance of seeing a 1 in 100
20 event rate as just a rule of thumb.

21 (Dr. Nambiar nods yes.)

22 DR. BADEN: So 600 is a 1 in 200 event rate

1 to have a chance of seeing it. So I have a
2 question for Dr. Li. The applicant has suggested
3 that a blood level of 56.4 may be a marker of
4 activity. What do you make of that analysis and
5 that potentially being a threshold of interest?

6 DR. LI: I do not have the answer. Probably
7 our colleagues in clinical pharmacology might have
8 an answer for this question.

9 DR. ZHUANG: This Luning Zhuang,
10 pharmacometric surveyor from FDA. So regarding
11 this exposure or breakpoint, we consider, because
12 it's coming from the exposure response analysis, we
13 consider it as an exploratory analysis. There is
14 no solid evidence to support this exposure
15 breakpoint.

16 DR. BADEN: Along those lines, the applicant
17 also mentioned the metabolism question and that the
18 issue of metabolism for primaquine may be different
19 than tafenoquine. Did you have a chance to see
20 those data and do you have any perspective on the
21 metabolism and activity question?

22 DR. ZHUANG: So my colleague, Tracey Wei

1 will give the answer.

2 DR. WEI: Tracey Wei again. So yes. We had
3 a chance to review the data regarding the status of
4 the C2D6 metabolizer on the efficacy for the
5 tafenoquine. So we agree with the statement from
6 the applicant.

7 DR. BADEN: You also agree that tafenoquine
8 is exclusively active against the hypnozoite and
9 not the other forms and therefore the exposure and
10 treatment should not be different, given the 10
11 weeks of tafenoquine and the 3 weeks of primaquine
12 exposure.

13 DR. BALA: Actually, there are some in vitro
14 studies and animal studies. In vitro studies,
15 *P. vivax*, erythrocytic phase was tested. And when
16 I looked at the 50-percent inhibitory
17 concentrations, they were similar to what's seen
18 for *falciparum*.

19 I think the highest I saw was about 35
20 microgram per mL. I may be wrong on that. So yes.
21 It is active against the erythrocytic phase. There
22 are a lot of animal studies using other species of

1 plasmodium which infect whether rodents or non-
2 human primates and it is effective against all
3 stages of the parasites, which include sporozoites,
4 liver stages, gametocytes, back stages, and --

5 DR. BADEN: So then should there be concern
6 that 10 weeks of tafenoquine exposure may impact,
7 relapse differentially then 3 weeks of primaquine
8 exposure in terms of the primary efficacy outcome.

9 DR. BALA: Well, that's a little difficult
10 to answer in this situation because subjects, when
11 they are treated before administering radical cure
12 therapy, there are no parasites in the blood
13 actually. The blood parasites are in the liver and
14 that's a little hard to study at that stage.

15 DR. BADEN: Dr. Green?

16 DR. GREEN: Just speculating, then, if this
17 agent or if this drug has activity against the
18 blood phase and being freshly induced. And at 9
19 weeks, you have a level, but it's very low. And we
20 ask this of the sponsor as well. In your thinking,
21 does this raise the risk for resistance?

22 DR. BALA: Yes. Repeated doses are due to

1 the long half-life. Yes. That's a possibility.
2 But I do want to clarify one thing, that the
3 activity of tafenoquine is slower than that of
4 chloroquine or some of the artemisinin class of
5 drugs.

6 DR. GREEN: You said slower?

7 DR. BALA: Yes, it's slower

8 DR. BADEN: Thank you. Mr. Mailman?

9 MR. MAILMAN: This is a kind of follow-on
10 from Dr. Orza, but this is to Dr. Cox. You know,
11 when I first looked at this package and was seeing
12 something for malaria and designated as an orphan
13 drug, it was kind of an interesting thing because,
14 if you look at worldwide, right, we're talking 2.5
15 million living at risk of infection.

16 Understanding that this went through orphan
17 drug designation, what would have been different if
18 we were looking at not an orphan drug designation
19 and what other things would we have seen?

20 DR. COX: So I'll try and answer what I
21 think are key elements of your question. So the
22 standards of approval are the same. The orphan

1 drug designation is based upon the number of cases
2 in the United States. And, clearly, there's not
3 much malaria in the U.S. It's an orphan disease.

4 You are absolutely correct. From a global
5 perspective, this is a huge infectious disease
6 problem. So let's see. I'm trying to figure out,
7 have I gotten all of the elements of your question.
8 Just help me there for a sec.

9 MR. MAILMAN: Yes, you've gotten the
10 elements. I mean, instead of seeing 200, if you
11 saw that this was in 20 million, would you have
12 required more testing, more patients? What would
13 you have seen? What might you have seen
14 differently? Because this looks like it's going to
15 be used in a more global sense than just the 200.

16 DR. COX: Right. So the development
17 program, if we look at drugs for malaria, the
18 studies are ex-U.S., and they've put together a
19 reasonable package of studies, recognizing that
20 there's a large disease burden outside of the U.S.

21 So I don't know that much would change here
22 if you will. Certainly, if there were malaria in

1 the U.S. -- fortunately, there is not -- then we
2 might see patients enrolled in the clinical trials
3 with malaria from the U.S. sites, not just from the
4 healthy volunteer or the QT studies. So I don't
5 think it would change that much. I can't tell you
6 much about it.

7 MR. MAILMAN: Maybe that's what I'm trying
8 to get at. If we had the same amount of cases as
9 India, would you have been satisfied with the
10 number of studies that we're seeing here?

11 DR. COX: So if we had an equal burden of
12 disease of malaria in the U.S.?

13 MR. MAILMAN: Or India or some of the other
14 South American countries that are indicated here in
15 the heat map.

16 DR. COX: Yes.

17 MR. MAILMAN: Would you have been satisfied
18 if you were sitting there?

19 DR. COX: So in that case, we would have
20 strongly encouraged. If there were an equal burden
21 in this hypothetical scenario, if there were an
22 equal burden of cases in the U.S. of malaria as

1 there were in other parts of the world, we would
2 have strongly encouraged that there were studies in
3 the United States that enrolled patients in the
4 United States.

5 My expectation of that incidence, too, is
6 that the company probably would have also tried to,
7 even without our encouragement, enroll patients in
8 the United States, recognizing that they're coming
9 in or their intention is to come in with an
10 application to the United States. So that's my
11 hypothetical answer to your hypothetical question.

12 MR. MAILMAN: But would they have been in
13 the 500- to 700-patient range?

14 DR. COX: So back to the size of the safety
15 database, yes. So as Dr. Nambiar has correctly
16 answered, we look at the nature of the disease, the
17 severity, the practical implications for enrolling
18 the trial. And if you look at more severe
19 illnesses, we're ranging somewhere from, say,
20 300 patients for the most severe illnesses for the
21 greatest degree of unmet medical need to somewhere
22 up in the, say, 700 range as we get to other

1 serious infections with some degree of unmet need.

2 As the disease process or the infection
3 becomes less severe and the benefit conveyed by the
4 product is less, that's where you're going to want
5 more safety.

6 So if you go to a type of infection where
7 you may just be, for most patients, speeding up the
8 time to resolution such as a less severe bacterial
9 upper respiratory tract infection, there, you'd
10 probably want more safety because you want a
11 greater degree of certainty for an area where the
12 benefit is less. But I think with the disease
13 process we're looking at here, given the severity
14 of malaria and relapsing of malaria, this is the
15 range that we would generally ask for with regards
16 to a safety database.

17 MR. MAILMAN: That's what I was really
18 looking for. Thank you.

19 DR. COX: Sure.

20 DR. BADEN: If I understand you correctly,
21 Dr. Cox, you're also leveraging a compound that's
22 very similar that's been used for 50 years.

1 DR. COX: We do, yes. The general knowledge
2 about other similar chemicals that had been
3 administered, that are approved and have been used,
4 certainly, yes, is helpful to us as we evaluate
5 safety and safety databases, and what we know about
6 either a class of drugs or a type of molecule
7 that's been used, so that's also considered.

8 DR. BADEN: Dr. Honegger?

9 DR. HONEGGER: Yes, another question for the
10 FDA about this point again about distinguishing
11 reinfection from relapse. And I don't mean to
12 overemphasize it, but the sponsor mentioned that
13 you can't distinguish those with genotyping assays
14 if they have them, but I just was wondering if
15 there are regions, like first, if they actually
16 sequence the genome and variable parts. Could you
17 distinguish that or is it truly indistinguishable?

18 DR. BALA: The tools we have for
19 distinguishing relapse an equal distance from new
20 infection for vivax are not really well
21 standardized. There are a few labs which are
22 trying to do it. But again, one of the limitations

1 is, you can do it against the erythrocytic forms,
2 but against the liver stage, then, the question of
3 how one gets the specimen will come up. And doing
4 invasive procedures may not be very practical
5 there.

6 DR. BADEN: Dr. Orza?

7 DR. ORZA: So for use in the U.S., if this
8 were to be approved, it would actually, for the
9 patient and the clinician, be a choice, right,
10 between TQ and PQ because of the availability here
11 of the G6PD, if I have it right, testing? And so
12 what we're trying to choose between, if we assume
13 that the safety profile is similar, except for the
14 fact that the TQ stays in your system for such a
15 long time that you effectively can't stop taking
16 it.

17 We have, I think, an open question about
18 whether the efficacy is equivalent. I mean, it's
19 potentially because we didn't demonstrate
20 noninferiority. It could be as much as 22, 23
21 percent inferior. But it has this trade-off where
22 it's much easier, adherence will be better. So

1 what I as a clinician or I as a patient am trying
2 to choose between -- and so I was just wondering if
3 there is any data either on FDA's part or the
4 sponsor's part or any thoughts about how you would
5 guide that kind of a choice.

6 DR. COX: Dr. Orza, I'm recognizing you're
7 from PCORI, so I understand the context.

8 DR. ORZA: Patient outcomes is literally my
9 middle name.

10 DR. COX: Right, right. I understand the
11 context of your question. So let me try and just
12 give you a few pieces that I hope will be helpful.

13 So when we look at a particular drug
14 application, we're looking at that application
15 based on its merits to see whether it's safe and
16 effective. The drug doesn't have to be the best
17 among all those that are out there. It just needs
18 to be safe and effective and can be an option among
19 treatments that would be available.

20 I think you're rightfully bringing up that
21 physicians in their practice of medicine, with the
22 individual patient before them, may be weighing a

1 variety of different factors when they're making a
2 decision for a particular patient as to which among
3 different options they may choose.

4 We do recognize, too, the value of having
5 options because with patients, there may be
6 different considerations when you're looking at
7 practice situations and making therapeutic
8 choices.

9 Certainly in the world of antimicrobials,
10 the issues of resistance and other drug
11 interactions issues with allergies, having options
12 can certainly be a valuable consideration so that
13 physicians are in the position to be able make
14 those choices as to what might be most appropriate
15 for their patient.

16 So hopefully, that helps some with your
17 question, but I'll stop there and make sure that
18 I'm addressing what you're asking.

19 DR. ORZA: It helps a little bit, but it's
20 an option, but for example, with allergies, if
21 they're allergic to one, they're likely to be
22 allergic to the other because they're so similar.

1 I mean, they're, in so many respects, so similar
2 that really the trade-off comes down to this
3 benefit of a single dose versus multiple doses and
4 the adherence challenges with that.

5 Otherwise, I think, as a patient or as a
6 clinician, I probably would like a little more
7 reassurance about the noninferiority. And that's
8 where we seem to be kind of lacking conclusive data
9 on the efficacy from.

10 DR. COX: Dr. Li can show the slide again if
11 that would be helpful. And recognize, too, that
12 the wideness of the confidence intervals is in part
13 driven by the size of the study, too.

14 DR. LI: Please show slide 29. The low
15 margin is 16 percent with the noninferiority margin
16 of 26 percent from the previous two studies. So
17 this drug, TQ, could be as much as 16 percent worse
18 than PQ because of these small samples.

19 DR. ORZA: Your additional analysis, where I
20 think you counted the missing data as failures,
21 went as low as 22 or 23 percent. Right?

22 DR. LI: No, that's the treatment effect for

1 PQ from the first two trials. So the effect could
2 be 20 percent. I mean, that's an effect of PQ. It
3 could reduce recurrence by 26 percent. That's the
4 treatment effect.

5 This trial could be 16 percent worse. So
6 it's within the margin. We can conclude it's
7 noninferiority if it would be on the 26 percent
8 margin.

9 DR. BADEN: Dr. Follmann, I think you have a
10 follow-up.

11 DR. FOLLMANN: Yes. I just wanted to follow
12 up. So this, too, is a study where we just have
13 two arms and so it's natural to think of
14 noninferiority, even if that wasn't pre-specified.
15 But when I was thinking about this, I didn't do the
16 calculation, but the other studies, 562, I guess
17 part 1 and part 2, also had TQ and a PQ arm. And
18 if I was serious about noninferiority and going in
19 that direction, I would have combined all those
20 studies in some kind of a meta-analysis to try and
21 get essentially a tighter confidence interval.

22 So it, from my perspective, 16 is too wide,

1 really. We have all this other data. Why not
2 bring it to bear on the noninferiority issue? And
3 just I think a little more of a technical comment;
4 I think the 26 percent you're talking about relates
5 to the so-called margin or the bar four, which we
6 conclude noninferiority. And so that's based on
7 how much a better, I guess, PQ would be than
8 placebo. It's based on that kind of calculus.

9 DR. BADEN: But I think also, agreed, there
10 are more data that could be brought together to
11 make a tighter confidence interval.

12 DR. FOLLMANN: Right.

13 DR. BADEN: But you then have what's the
14 meaning of recurrence and the ability to treat that
15 as well as the issue in a study setting of high
16 compliance with the PQ. That may or may not play
17 out in general use. So there are many moving
18 features to these data, at least for me, to be able
19 to interpret it cleanly as noninferiority.

20 DR. FOLLMANN: Right. So you're putting it,
21 I think, about issues of generalizability and how
22 it will work out in the field and so on, whereas

1 I'm focused on the narrow question, is that
2 confidence interval over there, 16 to 10, but the
3 best summary of how similar they are based on the
4 research program that they did?

5 I think it makes sense to also keep in mind
6 the other studies, which showed dead-on similarity,
7 basically.

8 DR. BADEN: Agreed.

9 DR. BEYRER: This is getting back to my
10 original question, which is just, the confidence
11 interval here wraps around 1, obviously, so it
12 could be 16 percent inferior. It could be 10
13 percent better. That's the range and that's why I
14 was asking you about a statistical test for this, a
15 p value, for example.

16 DR. LI: We created the confidence interval.
17 We focused on the low limits, so we did not compute
18 the p values for the test.

19 DR. BADEN: But Chris, Dr. Beyrer, to make
20 sure I understand, there are two different
21 questions. One is, is it non-inferior, so is the
22 95 percent confidence interval above a lower

1 boundary. The other, if you're comparing treatment
2 A to treatment B, to say one is better or worse
3 than the other; if it's noninferiority, then is
4 there noninferiority margin for which we want to
5 have confidence it's above, which from these data
6 would be above a 20 percent minus, but not above a
7 minus 10 percent.

8 But then to Dr. Follmann's point, there are
9 two more datasets that could be combined to make a
10 tighter 95 percent confidence interval to at least
11 have more confidence in this type of assessment.

12 Am I capturing your concern properly?

13 (Dr. Beyrer nods yes)

14 DR. BADEN: I'm not sure there's an answer
15 or at least not from us. We may hear something
16 from the applicant after lunch.

17 DR. COX: Dr. Li, we may not have done that
18 analysis, analyzing the additional datasets, but it
19 may be something that the applicant has done.

20 DR. BADEN: And can bring to us after lunch
21 as a digestive.

22 (Laughter.)

1 DR. BADEN: So Dr. Moore?

2 DR. MOORE: I just had one point and that
3 was that points raised to questions about
4 resistance to tafenoquine for patients where the
5 drug would persist in the blood, I think of greater
6 concern is really the resistance to primaquine,
7 which has already been documented, well documented,
8 in southeast Asia, more to the point, that non-
9 compliance with a primaquine is a major risk for
10 development of resistance, much more so than
11 tafenoquine, if I may, in my opinion, people who
12 have it in their system and don't necessarily need
13 it anymore.

14 DR. BADEN: Fair enough. An issue that
15 troubles me and I just am interested in the
16 agency's view of it -- the advantage of this
17 compound is the long half-life, single dose,
18 incredibly attractive. However, they did have 2
19 cases of hypersensitivity. How do we help weigh
20 that, given the potential consequences of
21 hypersensitivity if it goes unchecked or not in a
22 position to have a healthcare response.

1 So I'm just curious as to your evaluation of
2 those two episodes and how you think about the
3 hypersensitivity concern.

4 DR. O'SHAUGHNESSY: I guess the first point
5 to make would be those 2 cases are from, as far as
6 I know, the entire development program, so that
7 would be 2 out of, I guess, over 4,000.

8 DR. BADEN: Four thousand.

9 DR. O'SHAUGHNESSY: They're interesting
10 cases because they occurred 13 to 15 days after the
11 drug was taken. And both patients ended up in the
12 ER and both patients got prednisone and
13 diphenhydramine and recovered.

14 The symptoms, at least in 1 patient, lasted
15 for about 7 days. So they are concerning and it
16 will be described in the label, but it will be
17 different, I guess, for physicians to mitigate
18 because the patient is already out of your care
19 maybe at that point. So he's of concern to us.

20 DR. BADEN: In a detailed evaluation of
21 those two cases, which I suspect would be --

22 DR. O'SHAUGHNESSY: Yes. We had an

1 allergist look at them.

2 DR. BADEN: There was no other concomitant
3 factors, allergies. There was nothing else that we
4 should --

5 DR. O'SHAUGHNESSY: I would say there is a
6 mitigating case for 1. The patient had an
7 antecedent upper respiratory tract infection. And
8 the allergist who saw the patient in the case
9 narrative did suggest that it might have been
10 allergic urticaria due to that upper respiratory
11 tract infection.

12 In the other case, the asthmatic patient
13 didn't really have any other reason to have this
14 allergic reaction that we could find in the case
15 narrative.

16 DR. BADEN: But the patient was asthmatic,
17 pre-existing asthmatic?

18 DR. O'SHAUGHNESSY: The patient was
19 asthmatic, yes.

20 DR. BADEN: On therapy or baseline
21 anti-depressives?

22 DR. O'SHAUGHNESSY: I don't think we got a

1 list of their concomitant medications at the time,
2 but the cases looked similar. They both had
3 urticaria. They both had angioedema and then other
4 symptoms.

5 DR. BADEN: Dr. Green?

6 DR. GREEN: Do you know the incidence of
7 urticaria with primaquine?

8 DR. O'SHAUGHNESSY: I do not.

9 DR. GREEN: I'm going to presume that it's
10 happened.

11 DR. O'SHAUGHNESSY: I presume it has
12 happened.

13 DR. GREEN: Right, because if we're doing
14 this side-by-side comparison --

15 DR. O'SHAUGHNESSY: Sixty years, I would
16 imagine.

17 DR. GREEN: -- of the advantages of a day
18 versus 14 days of therapy and, if we're going to be
19 worried because there's hypersensitivity that
20 occurs in a couple of cases, it would probably be
21 worthwhile to again, even though these aren't a
22 side-by-side comparison except for the safety

1 study, the third one, maybe the sponsor knows that
2 data.

3 DR. BADEN: We'll ask the sponsor for it,
4 but again, Dr. Green, to push you a little bit on
5 that, if someone has an allergic reaction to a
6 medicine that I cannot remove for another 6 weeks
7 versus will be out of their system in days, that
8 might make a difference in how one manages
9 depending on the severity of the reaction because,
10 unfortunately, once you give this drug, there may
11 be 10 weeks of antigenic stimulus.

12 DR. GREEN: If you're looking for me to
13 respond, obviously, we're looking at benefits
14 versus risks. And if we look at the issues of
15 compliance versus non-compliance and then the
16 benefits or the risk.

17 I mean, again, so obviously this is of
18 concern, but it does need to be weighed with the
19 frequency that it could have happened with the
20 other medication. And it would be nice to know the
21 absolute rate of this. We know some events in a
22 population. We don't know the universe.

1 So obviously, that could make us want to
2 have more observations post-marketing.

3 DR. BADEN: Agreed. Dr. Tan?

4 DR. TAN: I just have a new question for
5 FDA. For the cases of vivax in the U.S., only
6 about 40 percent receive primaquine. And I don't
7 know if that's a practice issue or supply issue. I
8 do remember in 2011 there was a shortage of
9 primaquine that extended into 2012 and we've had
10 issues with medications when there's only one
11 supplier. Do any of you know the current status of
12 primaquine manufacturing in the U.S.? Are we
13 dependent on one manufacturer for our only option?

14 DR. COX: We can check, and we'll get you
15 some more information about that. You do raise the
16 point of drug shortages, and we do encounter drug
17 shortages, and it can create some difficult
18 situations.

19 There have been shortages in the past of
20 sterile injectable drugs, and we'll also check. We
21 have a drug shortage webpage at FDA that lists the
22 current shortages.

1 So the issue of diversity of supply and
2 options becomes very important in a situation where
3 there may be a shortage of one agent or sometimes
4 even when there's multiple manufacturers. If one
5 has a major market share, it's not possible in the
6 short term for the other manufacturers to make up
7 for that lost supply.

8 So diversity of suppliers for an agent is
9 helpful in having a diversity of agents, if you
10 will, so that if one agent becomes
11 unavailable -- and the situation becomes
12 particularly challenging for lower-volume use
13 products, which ones are approved in the U.S. And
14 you can see that there are obviously market
15 considerations for circumstances where the disease
16 burden in the U.S. might be small.

17 That might be a situation where the degree
18 of attractiveness for a number of manufacturers is
19 also going to be extremely small because the amount
20 of product that would be used in the U.S. is
21 limited.

22 So I've talked a lot, but your question

1 about drug shortage is diversity of supply, and
2 diversity of options can certainly be an important
3 consideration for patients.

4 We'll check the drug shortage website and
5 see what we find on primaquine and also the
6 currently approved manufacturers, and we'll let you
7 know in just a minute.

8 DR. NAMBIAR: Yes. So I think we have what
9 they call the Orange Book that lists all the
10 currently available products, and it does seem like
11 there might be more than one supplier for
12 primaquine.

13 DR. BADEN: Good. It is 12:35. It is time
14 for lunch. We will now break for lunch. We'll
15 reconvene again in this room in 45 minutes at 1:30.
16 Please take any personal belongings. Committee
17 members, remember there should be no discussion of
18 the meeting during lunch, amongst yourselves, with
19 the press, or any member of the audience.

20 I'll also ask the applicant to prepare any
21 responses and clarifications based upon the issues
22 raised.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

(Whereupon, at 12:35 p.m., a lunch recess
was taken.)

A F T E R N O O N S E S S I O N

(1:31 p.m.)

Open Public Hearing

DR. BADEN: It is now 1:30, and we should resume if everyone can take their seats. We'll now resume after lunch with the open public hearing session.

Both the FDA and the public believe in a transparent process for information gathering and decision making. To ensure such transparency at the open public hearing session of the advisory committee meeting, FDA believes it is important to understand the context of an individual's presentation.

For this reason, FDA encourages you, the open public hearing speaker, at the beginning of your written or oral statement, to advise the committee of any financial relationship that you may have with a sponsor, its product, and if known, its direct competitors. For example, this financial information may include the sponsor's payment of your travel, lodging, or other expenses

1 in connection with your attendance of the meeting.
2 Likewise, FDA encourages you, at the beginning of
3 your statement, to advise the committee if you do
4 not have any such financial relationships.

5 If you choose not to address this issue of
6 financial relationships at the beginning of your
7 statement, it will not preclude you from speaking.
8 The FDA and this committee place great importance
9 in the open public hearing process. The insights
10 and comments provided can help the agency and this
11 committee in their consideration of the issues
12 before them.

13 That said, in many instances and for many
14 topics and a variety of opinions. One of our goals
15 today is for this open public hearing to be
16 conducted in a fair and open way, where every
17 participant is listened to carefully, and treated
18 with dignity, courtesy, and respect. Therefore,
19 please speak only when recognized by the
20 chairperson. Thank you for your cooperation.

21 Will speaker number 1 step up to the podium
22 and introduce yourself? Please state your name and

1 any organization you are representing for the
2 record.

3 DR. SLUTSKER: Good afternoon. I'm
4 Dr. Larry Slutsker. I'm director of malaria for
5 PATH and I'm representing PATH. I don't have any
6 financial connection with the sponsor. Thank you
7 very much for this opportunity to provide some
8 perspective on this new drug application for
9 tafenoquine.

10 I'm speaking today on behalf of myself and
11 Dr. Richard Steketee also with PATH. Together,
12 we've both been chief of the malaria branch at CDC
13 and now work together at path. And we spent more
14 than 60 years in public health and epidemiology and
15 more than 50 years collectively directly involved
16 in malaria research and program implementation and
17 evaluation.

18 So as you know and as you've heard, there's
19 been great progress against malaria over the last
20 decade. Much of this has been against p.
21 falciparum and the progress against P. vivax has
22 been far less apparent. P. vivax, as you've heard,

1 was initially and long ago thought to be a
2 relatively benign infection. And it's become
3 increasingly evident as we've learned more that
4 it's an important public health issue and lead to
5 life-threatening complications and increased
6 mortality, nearly 10 million cases occurring
7 globally annually with an estimated 5,000 deaths
8 and over 2 billion people living in areas of risk.

9 As you know, vivax poses special challenges
10 because, unlike falciparum, it causes relapses
11 months or even years after the primary infection
12 due to reactivation of the dormant stages in the
13 liver of the hypnozoites and epidemiologic studies
14 of estimated relapses account for a vast majority
15 of ongoing P. vivax transmission, well more than 50
16 percent, so preventing relapses by killing
17 hypnozoites providing radical cure becomes a key
18 critical strategy for reduction of transmission and
19 eventual elimination of vivax.

20 Currently, we only have primaquine as an 8-
21 aminoquinoline that's effective against hypnozoites
22 and primaquine, as well as tafenoquine, has a risk

1 of severe hemolysis in those patients who are
2 administered these drugs with severe gp6d
3 deficiency. The current standard regimen for
4 primaquine is to receive a daily for 14 days.

5 This prolonged treatment regimen poses
6 significant adherence challenges with estimates
7 from some studies that up to 75 percent of patients
8 may not complete a full 14-day regimen. So with
9 its single-dose regimen, the 8-aminoquinoline-
10 tafenoquine would provide really a game-changing
11 opportunity to deliver radical cure with directly-
12 observed therapy.

13 Tafenoquine administration also does pose a
14 risk of hemolysis in patients with severe G6PD
15 deficiency. And so it's recommended to be
16 administered only after testing for G6PD
17 deficiency. And work is already underway to link
18 G6PD testing with tafenoquine use to assure this
19 safe route of cure.

20 So the capacity to deliver this single dose
21 directly observed therapy radical cure with
22 tafenoquine can have an enormous impact, both

1 clinically and from our malaria control and public
2 health perspective.

3 First, patients with documented vivax, who
4 are tested for G6PD and documented not to have a
5 severe deficiency will be assured to receive safe
6 radical cure through the provision of DOT
7 tafenoquine. They'll be much less likely to suffer
8 additional morbidity from vivax relapses.

9 Secondly, deployment of and high coverage
10 with a program of safe radical cure based on G6PD
11 testing and single-dose tafenoquine can have a
12 major impact by reducing vivax transmission and
13 provides strong momentum to accelerate towards
14 vivax elimination, infected areas.

15 The radical cure can end the potential of
16 ongoing transmission for many subsequent relapses.
17 Of note, many of the world's malaria endemic
18 countries have both falciparum and vivax. And as
19 they achieve substantial progress in their control
20 efforts, falciparum is the first to disappear, as
21 it has no relapsing stage of course.

22 At this time, nearly all the countries on

1 near-term track for elimination are at the point
2 where they must address and eliminate their viva
3 transmission to be successful. Finally, of note,
4 in the United States, about 150 to 200 cases of
5 recorded vivax are reported annually and, among
6 these individuals, less than half reportedly
7 receive radical cure with primaquine.

8 The current availability of G6PD testing
9 coupled with a potential for a single-dose radical
10 cure, which tafenoquine could dramatically improve,
11 be curative management for these U.S. travelers.

12 So in summary, we strongly support the
13 approval of tafenoquine for the proposed indication
14 of radical cure, prevention, and relapse of
15 P. vivax. We think this approval would represent a
16 major step forward and a potentially game-changing
17 opportunity in the fight against vivax malaria and
18 we're very pleased the FDA and its advisory
19 committee is considering this important drug.
20 Thank you.

21 DR. BADEN: Thank you. Will speaker
22 number 2 step up to the podium and introduce

1 yourself? Please state your name and any
2 organization you are representing for the record.

3 (No response.)

4 DR. BADEN: Will speaker number 3 step up to
5 the podium and introduce yourself? Please state
6 your name and any organization you are representing
7 for the record.

8 MR. BLUMENFELD: Good afternoon. My name is
9 Josh Blumenfeld. I'm managing director for a
10 global policy and advocacy, Malaria No More, and
11 I'd like to make clear that I'm not being
12 compensated by an outside organization for my time
13 or travel to this meeting today.

14 I'd also note that I'm the furthest thing
15 from a scientist or a clinician. I have the great
16 misfortune of being a lawyer. But I'm also an
17 advocate and I'm here to talk in that capacity. So
18 Malaria No More is a U.S.-based global advocacy
19 organization. We envision a world where no one
20 dies from a mosquito bite.

21 Every day, we work to mobilize the political
22 will, the commitment, the funding, and the

1 innovation needed to achieve malarial elimination,
2 which we think would be one of the greatest
3 humanitarian accomplishments of our lifetimes. So
4 I should note that we're privileged to live in a
5 country that eliminated malaria in 1951.

6 As many of you all know, the CDC is located
7 in Atlanta because its first mission was to
8 eliminate malaria from the American south, yet as
9 has been discussed, some Americans still suffer
10 from malaria in the form of imported cases. I
11 would also note that many of our war fighters, our
12 men and women in uniform, also suffer from malaria.
13 Indeed, malaria's one of the top infectious disease
14 threats facing our men and women in harm's way.

15 So I understand you've heard about the
16 devastating global disease, the progress we've
17 made, and the challenges we face. Let me highlight
18 a little bit the current and historic leadership
19 role the United States has played in the global
20 malaria effort, thanks to the generosity of the
21 American taxpayer and frankly the unique bipartisan
22 commitment of the Congress and of successive

1 presidents.

2 In 2018, the U.S. spent \$775 million on the
3 president's malaria initiative. We're the largest
4 bilateral donor to malaria efforts in the world
5 through PMI, which operates in 27 countries in
6 Africa, Southeast Asia as well as here in the
7 Americas. In 2017, PMI procured 40 million bed
8 nets, 41 million anti-malarial treatments, and 43
9 million RDTs.

10 The U.S. also procured more than 10 million
11 preventative treatments for pregnant women. Our
12 leadership has been equally critical in
13 multilateral form, particularly the Global Fund,
14 where the U.S. is also the largest contributor,
15 having spent \$1.35 billion in 2018.

16 The effects of our leadership have been
17 astonishing. PMI and the Global Fund have been
18 instrumental in saving 7 million lives since the
19 year 2000. Of course, our collective work is far
20 from over. The WHO has set ambitious elimination
21 targets and milestones.

22 By 2020, the world is striving to eliminate

1 malaria from at least 10 countries. Kyrgyzstan and
2 Sri Lanka were certified malaria free in 2016.
3 Just last month, Paraguay was certified and
4 Algeria, Argentina, and Uzbekistan are on track for
5 later this year.

6 By 2030, the WHO has set a goal of
7 eliminating malaria in at least 35 countries and
8 reducing malaria mortality rates by 90 percent. So
9 as you've heard, vivax is the second leading cause
10 of malaria worldwide. It's the predominant cause
11 of malaria outside of Africa, where the vast
12 majority of the burden is in Africa, of course.
13 But outside of Africa, it's responsible for 64
14 percent of cases in Asia and in south America. It
15 affects women and children under five in some of
16 the most densely populated regions of the world,
17 including India, which has the highest burden of
18 any country outside of Africa.

19 It's also prevalent in southeast Asia,
20 particularly along the Thai-Cambodia and Thai-
21 Vietnamese border, where the specter of malaria
22 drug resistance is threatening all of our global

1 success.

2 As you've heard, though vivax is often
3 mischaracterized as benign, it can indeed cause
4 serious illness. And perhaps most challenging for
5 the elimination effort is that the parasite can go
6 dormant in the liver and appear asymptomatic in
7 patients for years evading detection.

8 So currently, there is only one approved
9 treatment that can address vivax and that's
10 primaquine. And we all know that that's clinically
11 effective, but in the real world, an application
12 that takes 7 to 14 days is highly unrealistic,
13 particularly in hard-to-reach populations.

14 So tafenoquine, the drug being considered
15 today, is a true innovation. It's a safe single-
16 dose radical cure treatment. That could have a
17 tremendous impact on preventing relapse, lowering
18 morbidity and mortality, and helping the world and
19 the United States government and its taxpayers
20 achieve our ambitious elimination targets in Asia
21 and the Americas.

22 Simply put, there is simply no reason for

1 anyone to die from malaria. It's entirely
2 treatable and preventable. This is a disease of
3 poverty. In our view, the swift approval of this
4 drug will prove to be another crucial tool to help
5 us achieve this dream within our lifetimes. Thank
6 you.

7 DR. BADEN: Thank you. Will speaker
8 number 4 step up to the podium and introduce
9 yourself? Please state your name and any
10 organization you are representing for the record.

11 DR. SLUTSKER: Good afternoon. I'm reading
12 this statement on behalf of Professor Krudsood from
13 the Faculty of Tropical Medicine, Mahidol
14 University, Bangkok, Thailand.

15 "This letter is in support of the approval
16 of tafenoquine, an 8-aminoquinoline. It is a single
17 oral-dose drug therapy for the treatment of liver-
18 stage *P. vivax* malaria, replacing the role
19 currently played by primaquine, 14 days.

20 "My name is Professor Srivicha Krudsood and
21 I've been working at the Faculty of Tropical
22 Medicine, Mahidol University, Thailand, on the

1 clinical management of malaria and anti-malarial
2 clinical trials for over 20 years.

3 "Our group published our first paper
4 evaluating the efficacy and safety of WR238605,
5 tafenoquine, in the prevention of *P. vivax* relapse
6 in 1999. Since 1996, 6 tafenoquine studies have
7 been conducted at the faculty on the use of
8 tafenoquine as an anti-hypnozoite to treat *P. vivax*
9 malaria.

10 "The results of these studies have revealed
11 high cure rates. The studies also found
12 tafenoquine therapy to be well tolerated by normal
13 G6PD patients. For the purposes of malaria
14 elimination, *P. falciparum* malaria is now showing
15 markedly reduced incidence, especially in southeast
16 Asia. By contrast, the regional incidence of
17 *P. vivax* malaria is on the increase.

18 "The currently recommended therapies for
19 *P. vivax* infection in southeast Asia are for the
20 blood stage, chloroquine-artemisinin-based
21 combination therapies infection for 3 days, for the
22 liver stage primaquine for 14 days. The proposed

1 recommended therapies for *P. vivax* in Thailand are
2 blood stage, chloroquine, artemisinin-based
3 combination therapy for 3 days, liver stage,
4 tafenoquine, single dose.

5 "Besides our findings of anti-malarial
6 efficacy in liver stage *P. vivax* malaria,
7 tafenoquine has a long half-life and tafenoquine
8 therapy offers the benefits of ease of
9 administration and ready treatment adherence.
10 Since it can be administered as a single dose
11 compared with the current 14-day primaquine regimen
12 with the attendant risks of non-adherence to the
13 full treatment course, one of the most challenging
14 issues in the clinical management and elimination
15 of malaria hinges on adherence to treatment.

16 "Tafenoquine, a potent anti-hypnozoite,
17 administers a single-dose therapy, offers an
18 efficacious solution to this problem and promises
19 to help transform our goal of malaria elimination
20 into a practical reality.

21 "In summary, I support the approval of
22 tafenoquine single-dose therapy for the treatment

1 of liver stage *P. vivax* malaria in conjunction with
2 chloroquine or ACT for 3 days. Thank you."

3 DR. BADEN: Thank you. Will speaker
4 number 5 step up to the podium and introduce
5 yourself? Please state your name and any
6 organization you are representing for the record.

7 DR. DOW: There should be some slides for
8 this presentation.

9 Good afternoon. I'm Geoff Dow, the CEO and
10 majority shareholder of 60 Degrees Pharmaceuticals,
11 the company that is the sponsor for tafenoquine for
12 malaria prevention.

13 Seventeen years ago, I got *falciparum*
14 malaria. I can assure you personally it's a
15 medical emergency and folks who have no prior
16 exposure to the disease. In the U.S., 96 percent
17 of the malaria burden is in returning travelers who
18 failed to comply with malaria chemoprophylaxis.

19 One of the key factors involved in that is
20 the ability of the traveler to comply with
21 prescribed medications. Tafenoquine with its long
22 half-life and for the malaria prevention

1 indication, the potential ability to administer the
2 drug once a week.

3 We believe, in the future, in the event the
4 drug is approved, that it will make the compliance
5 with prophylaxis much easier for travelers. That
6 same benefit means we can give the drug as a single
7 dose for radical cure and, for the record, 60P
8 fully supports the dedicated efforts of GSK and MMV
9 over the years to bring this product to where it
10 is.

11 With that said, we have concerns about the
12 way the labeling discussions are being handled with
13 respect to psychiatric events. Because of the
14 logistical issues that will impose in the future,
15 both for military use of the drug and eventually
16 for mass drug administration in the context of
17 elimination.

18 So with that as background, 60P urges the
19 advisory committee to recommend approval of
20 tafenoquine for radical cure and in 2 weeks' time
21 for malaria prevention as rapidly as possible, to
22 do so without specific warnings in relation to

1 neuropsychiatric events, because we don't think
2 these warnings are supported by evidence, and to do
3 so with reference to the label for primaquine, the
4 drug from which tafenoquine is derived. We'd
5 further recommend that you do this, keeping in mind
6 that the duration of exposure is not associated
7 with an increased risk of psychiatric events.

8 I just want to point out a couple of
9 differences in the two indications. We've heard
10 about radical cure earlier today. For malaria
11 prevention, it involves the administration of a 3-
12 day load and the 200 milligrams weekly for up to 6
13 months, for a total cumulative dose of 5,200
14 milligrams in asymptomatic individuals at risk of
15 contracting *P. falciparum*, *P. vivax* malaria.

16 We also would like to remind the committee
17 that tafenoquine is an analog of primaquine, not
18 any other quinoline. And you can see here from the
19 structure where the core congener of primaquine is
20 substituted with three blocking groups that confer
21 the long half-life.

22 Similarly, Primaquine is a very different

1 molecule structurally and in terms of mechanism of
2 action from other quinolines. It's activated to
3 unknown oxidative species that confer a potent
4 effect against liver-stage parasites and that's
5 derived from it being an 8-aminoquinoline as opposed
6 to the amino alcohol side-chain on mefloquine,
7 which mimics the structure of neurotransmitters and
8 associated with an increased frequency of
9 neuropsychiatric events relative to standard of
10 care and a different profile in terms of
11 anti-malarial activity.

12 This morning, Rick Price covered the prior
13 review of primaquine for radical cure and
14 emphasized the fact that there's very little PQ-
15 attributable neurotoxicity. And most of the
16 adverse events are associated with hemolysis and GI
17 distress.

18 The FDA's label sensibly reflects this
19 literature consensus. What you may not know is,
20 you can use primaquine for up to 12 months for
21 prophylaxis without any increase in the risk of
22 psychiatric events relative to placebo. That's

1 reflected in the CDC's recommendations for
2 primaquine for prophylaxis.

3 With tafenoquine, we've seen that the drug
4 has a benign profile relative to the standard of
5 care and chloroquine for radical cure with a very
6 low event rate of psychiatric events. This is also
7 case with tafenoquine when administered weekly for
8 up to 6 months for a cumulative dose of 5,200
9 milligrams. You can see that the rate of adverse
10 events overall is very similar to placebo in a non-
11 deployed population.

12 It's only when you move to a deployed
13 situation with the attendant increased risk of
14 physical and psychiatric injury that you begin to
15 see an increase in the adverse events. In these
16 three conditions, the treatment-related incidence
17 of neuropsychiatric events leading to
18 discontinuation is benign and the common adverse
19 events that are increased by TQ are not
20 neuropsychiatric in nature.

21 Finally, although reference was made to some
22 important psychiatric events in GSK's dossier, it's

1 important to remember that these are very rare and,
2 as GSK has stated in its briefing document, there's
3 no causal relationship with prior psychiatric
4 mental health history.

5 So with these facts in mind, this is the
6 summary of this talk. I'd like to thank the agency
7 for the opportunity to present here today and
8 congratulate GSK on the progress that has been made
9 so far with tafenoquine after what we all
10 acknowledge has been 40 years and a lot of blood,
11 sweat, and tears getting to this point.

12 Congratulations.

13 DR. BADEN: Thank you. Will speaker
14 number 6 please step up to the podium and introduce
15 yourself? Please state your name and any
16 organization you are representing for the record.

17 MR. BLUMENFELD: Good afternoon, again. My
18 name is Josh Blumenfeld, and I'll be reading a
19 statement on behalf of APLMA, which is based in
20 Singapore, and they were unable to attend today.

21 "My name is Marie Lamy, and my testimony is
22 on behalf of the Asia-Pacific Leaders Malaria

1 Alliance or APLMA. APLMA supports the approval of
2 tafenoquine as a new radical cure for the treatment
3 of relapsing *P. vivax* globally.

4 "APLMA is an affiliation of Asian and
5 Pacific heads of government formed to accelerate
6 progress against malaria and to eliminate in the
7 region by 2030. APLMA was created in 2013 to
8 mobilize political commitment and broker tactical
9 policy financing solutions to expedite the
10 elimination of malaria.

11 "We convene partnerships in support of
12 better access to new and priority anti-malarial
13 commodities from treatments to diagnosis to
14 diagnostic tests and vector control solutions.
15 APLMA would like to highlight the public
16 health -- for the Asia-Pacific region, a region
17 that holds over 90 percent of the global burden of
18 *P. vivax*.

19 "P. vivax accounts for half of the total
20 malaria burden in the Asia-Pacific region, where
21 the strains exhibit some of the most aggressive
22 relapse behaviors. Recurrence and relapsing

1 malaria is a major driver of transmission and, the
2 more episodes of P. vivax there are, the greater
3 the negative impact of the morbidity and mortality
4 rates in the region.

5 "P. vivax can have the fatal outcomes if
6 left untreated associated with anemia, respiratory
7 distress, and even renal dysfunction. The current
8 treatment, primaquine, a 14-day treatment, is
9 underused and reported adherence even in clinical
10 trial settings.

11 "P. vivax is also widely resistant to first-
12 line treatments in the Asia-Pacific region, hence
13 the need for new treatments. As expressed at the
14 World Malaria Congress in Melbourne, Australia last
15 week by world experts on P. vivax, the promise of
16 tafenoquine as a single-dose radical cure is
17 revolutionary not only in terms of patient
18 adherence, but also in terms of reducing the risk
19 of resistance.

20 "This is particularly relevant in settings
21 where regular follow-up with patients was a
22 challenge due to poor geographic accessibility to

1 public health services. The malaria community is
2 aware of the challenges to contend with when
3 introducing a new treatment.

4 "The role of tafenoquine will require strong
5 pharmacovigilance systems to monitor events in
6 country. To address this, APLMA and the World
7 Health Organization facilitate the regional
8 regulatory partnership for malaria elimination.

9 "One of the objectives of our RRP beyond
10 expediting the registration of new drugs through
11 reliance mechanisms is to build the capacity of the
12 regulatory authorities in low- and middle-income
13 settings, including strengthening pharmacovigilance
14 systems.

15 "The deployment of tafenoquine will need to
16 happen in concurrence with G6PD deficiency
17 screening, new point-of-care handheld G6PD test are
18 currently in the last phase of development and
19 these will be crucial to mitigate the risk of
20 hemolysis.

21 "Through the RRP, APLMA is working closely
22 with the product development partnerships of these

1 new tools to ensure an efficient and safe joint
2 roll-out plan. Regulators across the Asia-Pacific
3 and members of the RRP have expressed keen interest
4 in the new promising treatment and are eagerly
5 awaiting the recommendation of both the U.S. FDA
6 and the Australian Therapeutic Goods Administration
7 on its approval for use.

8 "We encourage the Antimicrobial Drugs
9 Advisory Committee members to strike a balance
10 between encouraging prospects of tafenoquine as a
11 radical cure, its benefits for public health, and
12 the acknowledgement of potential risks in rolling
13 it out in low- and middle-income settings.

14 "In summary, APLMA supports the approval of
15 tafenoquine as a key tool in the elimination of
16 malaria in the Asia-Pacific region and a crucial
17 scientific advancement and weapon in the battle
18 against malaria globally. Thank you for your
19 attention."

20 DR. BADEN: Thank you. Will speaker
21 number 7 please step up to the podium and introduce
22 yourself? Please state your name and any

1 organization you are representing for the record.

2 (No response.)

3 DR. BADEN: Will speaker number 8 please
4 step up to the podium and introduce yourself?
5 Please state your name and any organization you are
6 representing for the record.

7 DR. NEVIN: Thank you, members of the
8 committee. I am Remington Nevin, executive
9 director of the Quinism Foundation. My
10 qualifications are described in the docket
11 submission that you should have before you. I have
12 no financial relationships with the sponsor.

13 So tafenoquine is an 8-aminoquinoline. Our
14 experience with the toxicity of this class of drug
15 dates to when these were extensively tested among
16 members of the U.S. military during the World War
17 II era drug development program. U.S. military
18 interest at the time was focused on development of
19 a causal prophylactic drug as a replacement for
20 quinacrine, a scolicidal drug with known CNS
21 toxicity.

22 Some of the early work was documented in

1 this publication, one of the lead authors of which
2 was Leon Schmidt, who saw much of the pre-clinical
3 safety testing of drugs of the 8-aminoquinoline
4 class. His reports from this era describe
5 extensive neurohistopathological testing of various
6 8-aminoquinolines in rhesus monkey.

7 This work was summarized in a series of
8 three seminal papers, which Schmidt published after
9 the war, which concluded that all of the nearly 140
10 members of the 8-aminoquinoline class studied were
11 uniformly neurotoxic.

12 As Schmidt noted, drugs of this class
13 produce rather remarkable and highly specific
14 lesions in the central nervous system as shown
15 here. During this era, a modest overdose of an 8-
16 aminoquinoline in clinical use proved fatal and, on
17 careful neurohistopathological testing and autopsy,
18 such neurotoxic effects were confirmed in man.

19 At the time, the military's leading
20 candidate for causal prophylaxis, named pentaquine,
21 was thought to be well tolerated during can
22 testing, causing mostly gastrointestinal

1 complaints.

2 However, subsequent neurotoxicity testing in
3 rhesus monkey demonstrated a similar pattern of
4 injury among members of this class, including to
5 the dorsal motor nucleus, involved in innervation
6 of the gastrointestinal system.

7 Further work on pentaquine was subsequently
8 abandoned. It may well have been this abortive
9 experience with pentaquine that led Schmidt to
10 caution that a detailed search for central nervous
11 system lesions with drugs of this class was highly
12 desirable.

13 Our foundation has grave concerns that the
14 critical lessons of this era have been overlooked
15 by the sponsor. Tafenoquine is known to be
16 neurotoxic in vitro. Testing of 8-aminoquinoline in
17 non-primates, including rats, is known to be
18 insufficient to rule out clinically significant CNS
19 toxicity.

20 As a lipophilic quinoline, tafenoquine can
21 be expected to share the neuropharmacokinetic
22 heterogeneity of mefloquine, meaning as with

1 pentaquine, any neurotoxicity identified in pre-
2 clinical testing at any dose would have significant
3 implications for the safe use of this drug.

4 Unfortunately, definitive pre-clinical
5 neurotoxicity testing in primates was never
6 performed prior to clinical testing, thus exposing
7 thousands to a risk of severe and potentially
8 permanent CNS adverse effects.

9 Our submission details evidence why such
10 effects cannot be ruled out with current data and
11 why gastrointestinal effects must be considered
12 prodromal to serious adverse effects such as those
13 alleged by several surviving veterans of
14 tafenoquine clinical trials, which are expected to
15 be discussed at a pending Australian Senate
16 inquiry.

17 The foundation is concerned that FDA's
18 consideration of this NDA without the benefit of
19 this potentially critical information and we note
20 for the record that timing and location of this
21 meeting has likely precluded attendance by these
22 surviving Australian veterans.

1 Lastly, I note the foundation is concerned
2 that the proposed dose of the tablet, oddly chosen
3 at 150 milligrams rather than 300 milligrams, will
4 facilitate a significant higher dose of off-label
5 use, as has occurred with primaquine in response to
6 likely CYP2D6-linked treatment failures and that
7 such unapproved use will significantly increase the
8 risk of unrecognized CNS toxicity.

9 Now, our foundation recognizes the vital,
10 global need for new effective treatments for
11 malaria, but limit highlight the larger picture
12 that I trust the committee will consider carefully.
13 This is a graphic depicting the global burden of
14 disease with mostly communicable disease in red and
15 the mostly non-communicable disease, including
16 mental illness, in blue. In 2010, the global
17 burden of morbidity due to malaria was roughly
18 comparable to that caused by depression and
19 anxiety, which are known lasting adverse effects of
20 mefloquine.

21 Here, we can see this for comparison. The
22 outcome that we must protect against is that,

1 through our efforts to decrease the global burden
2 of morbidity from malaria, particularly through
3 mass drug administration in areas of poorly
4 developed pharmacovigilance infrastructure, that we
5 unintentionally replace morbidity from malaria with
6 mental health morbidity caused by neurotoxicity.

7 With the evidence presented today, the
8 sponsors cannot rule out this occurrence should
9 tafenoquine be deployed globally as intended.
10 Given our concerns for the safety of tafenoquine,
11 our foundation recommends non-approval of this NDA.

12 However, we recognize that this NDA has been
13 eagerly anticipated. So we therefore make the
14 following additional recommendations should the
15 drug nonetheless be approved.

16 First, as with mefloquine, we recommend a
17 boxed warning. FDA has suggested the drug not be
18 used in those with a history of psychiatric
19 symptoms, but we would emphasize a more important
20 warning, that as with mefloquine, psychiatric and
21 neurologic symptoms be considered prodromal and
22 given susceptibility to the dorsal motor nucleus,

1 that gastrointestinal symptoms also be considered
2 prodromal and a contraindication to further use.

3 The potential for permanent adverse effects
4 must also be emphasized. Second, before this drug
5 is deployed globally, questions about its safety
6 must be resolved. We there recommend restricted
7 distribution to preclude export during an initial
8 post-approval period. And third, we would also
9 recommend the FDA require completion of phase 4
10 neurotoxicity testing in rhesus monkeys per the
11 methods of the World War II era studies.

12 The foundation believes strongly the
13 committee should not have to make this decision
14 without adequate safety data. So to incentivize
15 future sponsors, we would recommend against
16 awarding of a PRV in this instance. Thank you very
17 much.

18 DR. BADEN: Thank you. Will speaker
19 number 9 please step up to the podium and introduce
20 yourself? Please state your name and any
21 organization you are representing for the record.

22 DR. NEWMAN: Hi. My name is Dr. Robert

1 Newman. I'm a pediatrician and epidemiologist. I
2 stand before you today as an independent
3 specialist, not representing any organization.
4 I've not received any remuneration to be present
5 nor will be receiving any reimbursement of costs
6 and I have no financial ties to the sponsor.

7 Again, I'm a pediatrician, epidemiologist,
8 and I've spent the majority of my career, more than
9 25 years, working in global public health and most
10 of that time on malaria. Why? Because, as a young
11 physician, I moved to Mozambique and I was just
12 overwhelmed by the burden that I saw out there.

13 I just knew that we could do much more as a
14 society to counter the scourge of this disease.
15 Following that experience in Mozambique, I spent
16 nearly a decade working at the U.S. Centers for
17 Disease Control. At some point, I occupied the
18 role that Dr. Tan occupies today, in charge of the
19 National Malaria Surveillance System, where I saw
20 that this disease does actually affect returned
21 U.S. travelers. It's not just a global problem.

22 After that, I led the CDC team of the U.S.

1 President's malaria initiative and then ultimately
2 wound up as the director of the WHO Global Malaria
3 program. Why am I telling you this background?

4 Because I think that, in these roles, I have been
5 exposed to what this disease really means globally,
6 the tremendous burden that it exacts on societies.

7 At WHO, I recognized with my team that vivax
8 was being ignored. Yes, falciparum remains the
9 number 1 killer in terms of malaria, but the vivax
10 was under recognized as a global public health
11 problem. We set about issuing new guidance for
12 plasmodium vivax. We ensured that the global
13 technical strategy for malaria that went to the
14 World Health Assembly in 2014 included stronger
15 language about the importance of doing more about
16 vivax. In that strategy, it was clearly
17 articulated that the development and adoption of
18 innovative solutions will be essential to target
19 the hypnozoite reservoir of P. vivax.

20 That was approved by the World Health
21 Assembly, that strategy. So why the unwavering
22 commitment to do more about vivax malaria? You've

1 heard today from so many speakers that this is
2 really a serious disease, what proportion of the
3 world's population is affected, how many people,
4 how many cases there are a year, and that people
5 actually die from this disease.

6 You know, when I was a medical student,
7 people used to say, "Plasmodium vivax doesn't kill
8 you, but you wish it would," that you felt so
9 terrible, your fever was so high that you just
10 wished you would die.

11 But what we know now is not only do you
12 wish, but in fact, for many people unfortunately,
13 that is a reality, that this is a disease that
14 kills many more people than we had understood. And
15 throughout my career, I've lived in the Americas.
16 I've lived in Africa. I've lived in Asia and I've
17 witnessed the toll that this exacts, not just on
18 individuals, but in societies as a whole. Many of
19 the people who are affected by malaria, including
20 *P. vivax*, are the most marginalized.

21 They're living beyond the reach of
22 healthcare services. So we know the challenges of

1 treating vivax today. Getting people to take 14
2 days of primaquine is unrealistic in so many of the
3 settings where we really need to affect a radical
4 cure.

5 For any of us, as a physician, I can admit
6 that 14 days of a medicine is nearly impossible for
7 me to take. Imagine coupling that challenge with
8 living in the margins of society and trying to
9 complete a treatment like that.

10 So when we think about what this offers
11 today, tafenoquine is a game changer. You heard
12 that phrase earlier. The ability to go from 14
13 days of treatment to a single treatment is not only
14 transformative for individuals, but it's
15 transformative for national malaria control
16 programs that are actually trying to eliminate
17 vivax malaria.

18 I just spent six weeks actually working.
19 I've been working in Asia and talking to national
20 malaria control program managers across the Asia-
21 Pacific. And people are hungry for this new
22 medicine. People recognize that their inability to

1 eliminate vivax malaria is in large part coupled to
2 our inability to get people to take 14 days of
3 primaquine.

4 If we really were to have an approved
5 medicine that allows us in a single dose to affect
6 a radical cure, that changes overnight what
7 national malaria control programs can achieve
8 across the world. And vivax, which we always think
9 of as the stubborn last kilometer, last mile, the
10 challenge to actually eliminating malaria. I
11 think, with the approval of this medicine, we'll
12 actually leap frog falciparum and will become a
13 parasite that national malaria control programs can
14 tackle and can eliminate.

15 So I'm here today because I believe
16 passionately that we need to do more to tackle the
17 problem of vivax malaria and that tafenoquine is a
18 medicine that, if approved, has the potential to
19 transform not only the ability to definitively cure
20 patients, but the ability of national malaria
21 control programs to eliminate this parasite once
22 and for all from their countries. And that's not

1 just a public health goal because, as we all know,
2 malaria and poverty go hand in hand.

3 If we really want to help the countries that
4 are burdened by vivax malaria to accelerate their
5 development, this is a step in that direction.
6 Thank you very much.

7 **Clarifying Questions (continued)**

8 DR. BADEN: Thank you.

9 The open public hearing portion of the
10 meeting has now concluded and we will no longer
11 take comments from the audience. At this point,
12 I'd like to go back to further discussion,
13 especially asking the applicant to respond to
14 issues raised during the second part of the
15 morning. So Dr. Webster, can you please leave that
16 discussion or share the responses?

17 DR. WEBSTER: Thank you. Yes. There were a
18 number of firm points raised during the discussion
19 that we thought would be helpful for us to come
20 back to you. And so there was a question around
21 the issue of resistance and I'd like to ask Dr.
22 Price to give his perspective on that, please.

1 DR. PRICE: Thank you. Dr. Price from
2 Oxford University. The panel raised some important
3 questions over a long half-life antimicrobial and
4 selection of resistance. I don't believe that
5 there's clear evidence of vivax hypnozoites
6 acquiring resistance to primaquine. Indeed, there
7 are treatment failures in eastern Indonesia, which
8 are associated with the Chesson-like strain, but
9 that's confounded by CYP2D6 mutations, which
10 probably account for 95 percent of those drug
11 quality in the high relapse periodicity in that
12 region of the world.

13 We don't have an ex-vivo model to confirm
14 that, though. Transmission dynamics are very
15 different for vivax. Chloroquine resistance took
16 nearly 40 years longer to acquire and there are a
17 number of reasons for that. So you can never say
18 that resistance wouldn't occur, but with the
19 combination treatment of chloroquine plus
20 tafenoquine, I think resistance will be low despite
21 the long half-life.

22 DR. BADEN: What I would like is, you

1 respond to each of those issues. If committee
2 members have any follow-on questions, we just ask
3 them as the issue is raised. So I would look to
4 see if there is any follow-on. If not, then on to
5 the next point.

6 DR. WEBSTER: Yes. So a question was raised
7 about fever clearance time and I'd like to ask
8 Dr. Green to respond to that.

9 DR. GREEN: Justin Green, clinical. There
10 was a question around the low N, the number for
11 fever clearance time. So just to be clear, the
12 entry criteria for the study required a history of
13 fever in the previous 48 hours and a large number
14 of subjects would not have had fever at the time
15 that they were consented, and went into the study,
16 received chloroquine, and obviously underwent
17 screening.

18 However, if we take study 582 part 2 as an
19 example, of those individuals that did actually
20 have documented fever at the time of initial
21 screening, all of those cleared their fever within
22 48 hours, which is how we got that number, but in a

1 smaller number of individuals. Thank you.

2 DR. BADEN: Again, any follow-on question,
3 please let me know. If not, we'll go to the next
4 comment or clarification.

5 DR. WEBSTER: There was another question
6 concerning blinding in the healthy volunteer
7 studies. I'd like to ask Dr. Green to respond to
8 that, please.

9 DR. GREEN: Yes. Thank you. In our healthy
10 volunteer studies in the clinical development
11 program, we actually had single blinding in two of
12 those studies that was alluded to. Both of those
13 studies -- one was the cardiac study and one was
14 the ophthalmology safety study.

15 So just the ophthalmology study primary
16 endpoint was actually read far from the unit at a
17 later date by individuals who were masked as to
18 treatment allocation. And so the primary endpoint
19 to that data, they were actually unable to know the
20 treatment allocation. In addition, our TQT study
21 was also read by a vendor, cardiac specialist
22 vendor, looking at QT and other parts of the ECG at

1 a different site.

2 Again, those individual readers were blinded
3 to treatment allocation of people within the study.

4 DR. ORZA: So it effectively was double-
5 blind? Is that what you're saying? So who was not
6 blinded?

7 DR. WEBSTER: Dr. Green?

8 DR. GREEN: Even though those studies were
9 technically single blind, that's all that we can
10 claim. Quite a lot of work was done at the sites
11 in order to ensure that the minimum number of
12 people within the study had access to the
13 randomization code.

14 Usually, the pharmacy gave the nurses or the
15 staff in the unit the treatment were actually the
16 only people that knew what the treatment allocation
17 was; so even the physicians that were looking after
18 them, when there was an adverse event that they
19 were very worried about where they needed to
20 actually ask the pharmacist what treatment that
21 individual patient had gotten. Yes.

22 DR. BADEN: Thank you.

1 DR. WEBSTER: There was a question about
2 whether we had any longer-term follow-up, longer
3 than 6 months following a single dose. No, we can
4 confirm we don't have any follow-up that's of a
5 longer duration.

6 Then there were some questions around the
7 hypersensitivity cases. I perhaps could give some
8 context in that both of the hypersensitivity, the
9 urticaria cases, occurred in the same healthy
10 volunteer study. And just to give some context,
11 there was actually additionally one placebo patient
12 who experienced urticaria in that same study.

13 The patient who had asthma, who experienced
14 the hypersensitivity, who did not disclose the
15 history of study entry; there was a question about
16 what medications that patient was receiving. I can
17 confirm that they were receiving inhaled
18 corticosteroids and beta-2 agonists, so the
19 subjects' concomitant medications were recorded as
20 Advair, salbutamol, and budesonide.

21 Then there was a further question about
22 whether these types of reactions are known for

1 primaquine. We know that primaquine is labeled for
2 rash and pruritis. And when we consult the WHO
3 Uppsala safety database, there are reports of
4 hypersensitivity, urticaria, Stevens-Johnson
5 syndrome, anaphylactoid reactions, and toxic
6 epidermal necrosis.

7 There was a question about --

8 DR. BADEN: I think Dr. Moore is --

9 DR. WEBSTER: I'm sorry.

10 DR. MOORE: Just a follow-on question, so
11 the issue was, if I remember Dr. Green's question
12 earlier, what's the likelihood, that is, how common
13 is that. My recollection from the WHO is that all
14 those reactions are exceptionally rare.

15 DR. WEBSTER: They are not frequent, yes,
16 from a very large number of patients potentially
17 exposed, but then of course we are comparing post-
18 marketing data with clinical trial data.

19 DR. BADEN: Thank you.

20 DR. WEBSTER: There was a question about the
21 number of U.S. subjects enrolled in our clinical
22 trials. I can confirm that there were 425 U.S.

1 healthy volunteers enrolled in our clinical
2 pharmacology program in 6 studies

3 DR. ORZA: What were the inclusion and
4 exclusion criteria for those healthy volunteers in
5 terms of concomitant medications, or other
6 conditions they might have, or anything that would
7 speak to when this is actually used in the U.S.
8 population coming back with malaria that would make
9 them at all representative.

10 DR. GREEN: Thank you. Typically, phase 1
11 safety studies have a fairly narrow set of
12 inclusion and exclusion criteria. And therefore,
13 all patients are not on regular medication when
14 they come into those studies. But in actual fact,
15 except for one study where we had the partner drug
16 had a weight restriction, we didn't actually have a
17 weight restriction on those, which is why we
18 actually have a fairly wide range of BMIs with
19 equivalent exposure, either side of a cut-off of
20 30, which is one of the cut-offs for BMI in terms
21 of obesity.

22 DR. WEBSTER: Then finally, there was

1 interest from the panel in understanding what
2 efficacy we would show relative to primaquine if
3 the data from all three studies was combined and
4 I'd like to ask Dr. Rolfe, our statistician, to
5 show that to you.

6 DR. ROLFE: Hi. I'm Katie Rolfe, the
7 statistician from GlaxoSmithKline. I'm going to
8 show you a slide here with the results of pooled
9 analysis of our three primary studies. So you can
10 see on this slide the difference in the percentage
11 of subjects on tafenoquine, on primaquine, that
12 experienced recurrence, so a difference of zero
13 would just be no difference between the two
14 treatment groups.

15 What we saw was a difference of 1 with a
16 confidence interval around that from minus 5.9 to
17 8.0, so potentially subjects could have been 8
18 percent worse than primaquine in terms of
19 recurrence, but similarly, they could have been
20 almost 6 percent better. So if you were thinking
21 of a noninferiority, for example, 10 percent, which
22 I know is commonly looked up, and it would have sat

1 within that margin.

2 DR. BADEN: Just to clarify, the data you
3 pooled are all those who received the 300-milligram
4 dose?

5 DR. WEBSTER: Yes, the 300-milligram dose
6 across those three studies.

7 DR. BADEN: Three studies. Dr. Follmann,
8 any questions?

9 DR. FOLLMANN: No

10 DR. BADEN: That's all the questions.
11 That's all the clarifications. Any other questions
12 from the committee members or clarifications for
13 either the applicant or the agency? Dr. Honegger?

14 DR. HONEGGER: Just one more question for
15 the sponsor in terms of the use of this drug; I
16 understand there is some chloroquine resistance
17 among vivax in certain parts of southeast Asia and
18 maybe other places really. I wanted to ask what
19 was known about the incidence of chloroquine
20 resistance at the study sites?

21 Then two, in the future where this drug is
22 used with other agents besides chloroquine for the

1 treatment of the erythrocytic phase, what is known
2 about or speculated about safety and efficacy in
3 those cases?

4 DR. WEBSTER: Perhaps I can answer the
5 second question first. We don't have clinical
6 trial data, obviously, with anything other than
7 chloroquine, but we do have drug-drug interaction
8 data, which shows no clinically significant effect
9 on pharmacokinetics in either direction, so we know
10 that the drugs can be safely used together and
11 would anticipate that the drug would have the same
12 efficacy if given in combination with an ACT as it
13 does with when given with chloroquine.

14 I'd like to ask Dr. Green if he could
15 respond to your first question.

16 DR. GREEN: Background rates of chloroquine
17 resistance -- so all of the countries that we were
18 working in had documented cases of chloroquine
19 resistance. However, we defined in our protocol
20 prospectively and also measured chloroquine and
21 desethyl-chloroquine in all individuals that had a
22 recurrence of malaria.

1 The definition that we used was before day
2 33. In only 1 case did we find true evidence of
3 chloroquine resistance, which was one subject on
4 chloroquine only from Brazil.

5 DR. BADEN: Just further clarification; the
6 studies were all done given CQ and TQ together.
7 Any reason to believe that TQ without CQ can work
8 just as well? Because I can imagine a lot of use
9 will not be during the acute phase, maybe after the
10 acute phase. So any data on it as monotherapy
11 post-acute infection and, if not, any reason to
12 believe it wouldn't work well in that setting or
13 have different adverse events or side effects of
14 concern?

15 DR. WEBSTER: Yes. We have no data, so I
16 have nothing to share with you on that point, but
17 no reason to believe that it wouldn't work if
18 administered sometime later.

19 DR. BADEN: You would expect the same
20 efficacy and safety given sometime later as given
21 concomitantly?

22 DR. WEBSTER: Yes.

1 DR. MOORE: If I may, this is Dr. Moore. If
2 I may, I actually think it's reasonable to assume
3 that the adverse event would be less because, when
4 you give primaquine long after chloroquine is
5 given, the studies show that the adverse events are
6 less.

7 DR. BADEN: I'm not worried about less.

8 (Laughter.)

9 DR. BADEN: Dr. Orza, did you have a
10 comment?

11 DR. ORZA: I was just wondering whether the
12 sponsor had, in terms of trying to make some trade-
13 offs, especially with respect to global use,
14 whether you had estimated the numbers needed to
15 treat and the number needed to harm for death from
16 malaria, relapse, and then for harm, a serious
17 adverse event or death from the treatment.

18 DR. WEBSTER: We have looked at number
19 needed to treat. Perhaps I could ask Dr. Green to
20 respond to that, please.

21 DR. GREEN: So specifically, when we read
22 out our studies that I presented today, the number

1 needed to treat was three, so you need to treat
2 three individuals within the context of that to
3 prevent one relapse.

4 DR. ORZA: To prevent one relapse or to
5 prevent one death?

6 DR. GREEN: Well, we haven't had any deaths
7 in our clinical program. We've done some very
8 provisional look at modeling in terms of, for
9 example, the rates of G6PD if somebody
10 inadvertently took and had a hemolytic event
11 because it was an off-label use, and deaths, and so
12 on, and we think that the drug has an extremely
13 good risk-benefit within that setting. I do not
14 have that information to show you today.

15 DR. ORZA: Do you have number needed to harm
16 for a serious adverse event?

17 DR. WEBSTER: No, we haven't looked at that.

18 DR. BADEN: Any other questions or comments
19 from committee members for the agency or the
20 applicant?

21 (No response.)

22 DR. BADEN: If not, I will follow the

1 schedule. We now get a 10-minute break, and we
2 will resume at 2:35. And then I'll have the
3 committee discuss a lot of the issues that have
4 been raised to better put things in perspective,
5 from our perspective and for the agency, so
6 10-minute break, 2:36.

7 (Whereupon, at 2:26 p.m., a recess was
8 taken.)

9 DR. BADEN: One last round of
10 clarifications. Some questions were asked about
11 drug shortages, and I think Dr. Nambiar has a
12 couple of clarifications associated with those
13 questions earlier.

14 DR. NAMBIAR: Thank you, Dr. Baden. I think
15 Dr. Tan had asked if they added the number of
16 suppliers for primaquine. So there's at least 4,
17 and it's not on the drug shortage list.

18 DR. BADEN: Dr. Green?

19 DR. GREEN: So I have a question to the
20 agency and it's probably to one of the
21 pharmacologists. During the public comment period,
22 we heard one speaker talk to us about the

1 biochemical differences between mefloquine and TC.
2 Another speaker tried to invoke the strong
3 similarities. And I wonder if someone from the
4 agency could just provide us comment on how they
5 interpret the potential relatedness versus not
6 relatedness of the two products.

7 DR. McMASTER: Thank you. Owen McMaster,
8 pharm-tox reviewer. So I actually have some
9 slides. I don't know. And next slide, next slide,
10 and next slide. So we did in fact look
11 specifically at this product. There was, of
12 course, a concern whether or not this tafenoquine
13 was actually similar. There had been published
14 reports of brain damage and potential
15 neuropsychiatric effects in soldiers.

16 So we looked pretty carefully at the
17 sponsor's studies, looking at potential
18 neuropsychiatric effects. And they did two studies
19 which zeroed in on this issue. The first study was
20 a single-dose study in rats, which looked at the
21 effects, and then the second study was a multiple-
22 dose study where young animals were dosed up to

1 adulthood and then allowed a recovery period and
2 after which they were evaluated.

3 The single-dose study went up to 500
4 milligrams per kilogram, after which they did
5 neurofunctional assessments. And this is what they
6 looked at. They looked at locomotor activity prior
7 to dosing and then locomotor activity and
8 functional observation battery at the time
9 participants.

10 I listed this because I wanted to make clear
11 that it was pretty detailed in the different
12 parameters that were evaluated to try to get at
13 whether or not tafenoquine was actually causing
14 anything which could possibly be interpreted as
15 neuropsychiatric.

16 So for example, reactivity to handling, ease
17 of locomotion, arousal, auditory assessments, pain
18 perception, et cetera. So while not very clean and
19 obviously not perfectly representing
20 neuropsychiatric findings, the kind of data we get
21 from these functional studies will give us an idea
22 of whether or not, for example, the animals are

1 more anxious than they normally would be expected
2 to be.

3 Motor activity was also evaluated in 60-
4 minute sessions and it was broken down into 5-
5 minute segments, whether they looked at horizontal
6 and vertical movement of the rats. The oral
7 juvenile study; as I said, young rats were dosed
8 into adulthood.

9 They were submitted to both the motor
10 activity and also the neurobehavioral function
11 tests. In both instances, we did not see any
12 effects. So there was no evidence from these
13 studies that the animals were particularly more
14 anxious. The idea of post-traumatic stress
15 disorder relates vaguely to hyper fear responses or
16 abnormal fear responses. There was no evidence in
17 the studies that were conducted by the company to
18 indicate that there's any baseline changes as a
19 result of tafenoquine administration.

20 We did one study after single doses, which
21 were up to pretty high doses, and also the
22 [indiscernible] study, interestingly, we dosed, and

1 then after a delay to see if there were any delayed
2 effects the drug and in neither case, was there any
3 evidence of a problem.

4 Now, these tests aren't perfectly sensitive.
5 So for example, I think there's a publication with
6 mefloquine that shows that it also did not show any
7 adverse findings in the functional observational
8 battery test.

9 However, I think there was evidence in that
10 published paper that there were histological
11 changes in the brain after mefloquine. So in that
12 respect, it is different. For the studies that
13 were submitted to this NDA, there were no
14 histological changes in the brain of animals after
15 single doses or multiple doses of tafenoquine and,
16 of course, this is a single dose regimen.

17 So I think we concluded that there was no
18 evidence that there were adverse brain effects from
19 the proposed regimen.

20 DR. BADEN: Thank you. Follow-on? Thank
21 you very much. Dr. Orza?

22 DR. ORZA: Can you speak to the issue that

1 was raised by one of the public speakers about rats
2 being an inadequate model in which to look at this?
3 And then I have a related question, but probably
4 not for him.

5 DR. McMASTER: So at this point, we have a
6 question. I don't say we have a signal. I say we
7 have a question. And so perhaps the best use of
8 animals at this point -- we're the most important
9 species at this point -- would be to consider a
10 close evaluation of the human data that we have.

11 So to answer your question, it's not clear
12 that the rat or the monkey is better, but at this
13 point, it's possible to ask the question in humans.
14 And I think that would give us the best answer.

15 DR. BADEN: When you say close evaluation of
16 the human data, what do you mean, future study or
17 data already collected?

18 DR. McMASTER: I'm not a clinician. I have
19 to defer to the clinicians on this, but there may
20 have already been evaluations of neuropsychiatric
21 effects in this study. Certainly, as we've talked
22 over the months, as we've been reading this, there

1 have been no signals in the patients. If perhaps
2 we need to, once a drug is approved, obviously,
3 vastly greater numbers will be exposed to the
4 product. And that will increase our power to be
5 able to tease out any subtle issues that we may
6 have missed. So it's possible. I'm not suggesting
7 a post-marketing study, but I suspect at this point
8 that a human evaluation would be more useful than a
9 monkey study, for example.

10 DR. BADEN: Thank you. Is there another?
11 Sorry, Dr. Orza?

12 DR. ORZA: I had a related question about a
13 couple of times when we've been discussing the
14 cases, who experienced adverse events of one type
15 or another. It was said that, that they kind of
16 disobeyed the instructions to not engage in
17 strenuous activity.

18 So I'm just wondering how, when we think
19 globally, the populations that are going to be
20 potentially getting this drug if they're going to
21 be going back to lives or situations where they
22 really can't avoid that.

1 DR. BADEN: If I understand you correctly,
2 the clinical study is a rarified environment and
3 use in general is much more complex, which is
4 always a challenge. And I'm not sure they'll be
5 able to speak to the use in general, but obviously
6 that has to be weighed and considered.

7 DR. ORZA: Yes. But specifically on that
8 point, how significant, how important is it to take
9 it easy once you've taken this drug?

10 DR. BADEN: So I guess the question to the
11 applicant is, is there anything about taking this
12 drug that should restrict activity versus the study
13 had certain rules to follow?

14 DR. WEBSTER: Yes. I think the point you're
15 raising relates to the elevated CPK that we saw in
16 a healthy volunteer study. Normally, in healthy
17 volunteer studies, particularly we're aware of
18 carefully evaluating such things as effect on the
19 QT interval, which relates to heart rate, et
20 cetera.

21 We request that subjects are resting and
22 recumbent for most of the time. Elevations in CPK

1 are related to increases in physical activity and
2 therefore can be detected in our laboratory
3 evaluations, but they're not of clinical concern.
4 They just relate to heavy muscular exercise.

5 So we don't believe that, that has any
6 implications for any safety concerns when used in
7 the real world.

8 DR. BADEN: Thank you. Dr. Follmann?

9 DR. FOLLMANN: I have a question for the
10 FDA. So we're going to be voting on whether this
11 drug should be acceptable for the U.S. and
12 primaquine is already licensed, I guess, in the
13 U.S. And we've had a pretty extensive safety and
14 efficacy evaluation of tafenoquine.

15 So I can imagine the label for tafenoquine
16 reflecting the studies that have been done and our
17 impression of what signals there are, but I
18 wondered what happened with primaquine. So did
19 that have a similarly extensive database for
20 safety? Was it grandfathered in from the 1960s to
21 something else? And how did the label particularly
22 regarding safety for primaquine -- what is the

1 evidence based on that?

2 I would imagine a physician looking at these
3 two labels would implicitly think they're based on
4 similar amounts of safety data. And is that the
5 case or not?

6 DR. COX: Folks are helping me here, and I
7 guessed 1952. Did I get that right?

8 DR. BADEN: Predated your tenure.

9 (Laughter.)

10 DR. COX: Predated my birth, I think.

11 (Laughter.)

12 DR. COX: So I guess the way to describe it
13 is that the medical sciences have advanced
14 significantly over the last several decades. So
15 the quality of the evidence that we have for drug
16 applications now, compared to, say, 40 years ago,
17 we're more sophisticated in the way we look at
18 things. And that's not just true of us. That's
19 true of the medical literature.

20 So the amount of information that we have in
21 the label is dated, to some extent, to the types of
22 data that were available at the time the

1 application was approved. So like other drugs,
2 like other therapeutic areas, what we have
3 available now to evaluate the drug is probably more
4 extensive than what we had back in the 1950s when
5 it was originally approved, and the label reflects
6 that. I mean, just looking at the primaquine
7 label, it is an older-format label that looks
8 consistent with something that was approved many,
9 many years ago.

10 DR. FOLLMANN: To me, it would be a
11 potential concern to the FDA that they're based on
12 different amounts of data and information. They're
13 the same kind of molecule and, yet, the way they're
14 described in terms of safety and evidence is
15 different. I don't know how you can reflect those
16 nuances with the labeling for this or somehow that,
17 that applies to how you label primaquine or maybe
18 our remit here is just to look at tafenoquine by
19 itself.

20 DR. COX: Yes, we would always like to have
21 an ongoing collection of data that updates older
22 information and incorporate that into the label,

1 but sometimes it is difficult. We do within this
2 application have some information that allows us to
3 look at primaquine and how primaquine performed.
4 And that may really be the best information
5 relevant to the adverse effects associated with
6 primaquine from these studies, where it was in fact
7 included in the comparator arm of some of the
8 trials.

9 DR. BADEN: Thank you. Dr. Moore?

10 DR. MOORE: Thank you. So I think that's an
11 important point. I mean, when you think about it,
12 gentamicin probably would not have been approved if
13 we had the tools then that we have now. The other
14 thing, I think, conversely to look at, is the
15 safety of primaquine. I mean, look at primaquine.
16 It was approved back in 1952 with relatively less
17 evaluation tools at their disposal.

18 If you look at the consequences of the drug
19 since then, the chief consequence or side effect of
20 the drug is hemolysis, as is true for all these.
21 But in terms of neuropsychiatric effects, which is
22 really more what we're discussing, primaquine has a

1 very long safety track record as far as we can tell
2 based on 60-some years of use.

3 I think that's really the benchmark we have
4 to look at.

5 DR. BADEN: Dr. Tan?

6 DR. TAN: To add to that, CDC did do an
7 extensive review of the data that was available on
8 primaquine for its use in prophylaxis in part and
9 so on and again reiterating that we do have decades
10 of use, of safety of use of primaquine since it has
11 been approved.

12 **Questions to the Committee and Discussion**

13 DR. BADEN: Thank you. We will now proceed
14 with the questions to the committee and panel
15 discussions. I would like to remind public
16 observers that, while this meeting is open for
17 public observation, public attendees may not
18 participate except at the specific request of the
19 panel.

20 One of the things that I would like to
21 do -- we on the committee are faced with decades of
22 research, hundreds of pages of background reading,

1 hours of extensive presentations on a substantial
2 amount of data.

3 I would like to take a little bit of time
4 for us to discuss amongst ourselves what we
5 consider the key questions in the data that we're
6 struggling with. Please do not indicate how you
7 are going to vote on either of the questions, but I
8 would like us to have a chance to digest some of
9 the information and to leverage the committee's
10 expertise and, in the process, the agency will
11 overhear our thinking of how to weigh the issues.

12 I'll start the discussion in that issues
13 that have struck me in the information that has
14 been shared -- and I think it is a comic conundrum
15 of a new application -- has to do with the amount
16 of safety data, whether it's 4,000 exposed, whether
17 it's 500 exposed depending upon how one defines
18 exposure.

19 So we're always left with the issue of the
20 adequacy of the safety data. However, are the
21 safety data shared reasonable given the disease,
22 given what's being treated and the signals that we

1 see. And so I struggle with that because it's
2 always an issue of, we can see a 1 in 300 chance of
3 a serious side effect, so Stevens-Johnsons or TEN
4 as mentioned may well occur at 1 in a million. And
5 we'll never see that.

6 There are other concerns that have been
7 raised that will not be seen at this rate of
8 exposure. However, is that a reason to say we
9 should wait? Is that too grave a concern or is
10 that part of the process of considering a new
11 entity for a disease, where the data we have, not
12 necessarily the data we want, for a condition that
13 has a significant disease footprint in real time.

14 So I struggle with that always and here is
15 yet another time. However, the amount of data
16 shared to me doesn't seem unreasonable in terms of
17 the amount of safety signal. I am concerned about
18 the 2 hypersensitivity cases, but I don't know how
19 to weigh that. It's 2. And then perhaps placebo
20 had hypersensitivity and we all see
21 hypersensitivity in practice.

22 So it's hard to tease it out from

1 background, but with a drug that has a 15-day half-
2 life or 10 weeks of exposure that's measurable,
3 that concerns me. On the other hand, that's part
4 of the advantage given the difficulty of taking a
5 drug every day for 2 weeks. I don't know how
6 others weigh the hypersensitivity concern in a drug
7 of such half-life. Dr. Zito?

8 DR. ZITO: Well, I would think that, given
9 the need, we could proceed to see that it is not
10 delayed in being made available, but that we would
11 assure, try to assure that the very best methods in
12 epidemiologic methods in doing a post-marketing
13 surveillance study to address the concerns would
14 balance the benefit and risk.

15 DR. BADEN: Dr. Orza?

16 DR. ORZA: My follow-up on your point was
17 going to be similar, that we're always in this
18 situation and it can be somewhat ameliorated by
19 assurances that there will be very robust post-
20 marketing both continued testing as well as
21 surveillance. And I think the difficulty is that,
22 in reality, that frequently doesn't happen to the

1 extent that we hoped for when we kind of made the
2 trade-off.

3 DR. BADEN: Dr. Beyrer?

4 DR. BEYRER: Just an additional point that,
5 in the HIV model, which I'm most familiar with, we
6 have learned that one of the real benefits of
7 treatment is the huge impact it has on reducing
8 onward transmission.

9 So that of course is a very big part of the
10 global goal here with eradicating this liver phase
11 with this new agent. And so I would just add that
12 I think part of that post-marketing surveillance
13 ought to be also, if at all possible, surveillance
14 epidemiologic measures of the impact that this is
15 having on malaria control, where it's being used,
16 because I think that, that certainly is a part of
17 the benefit side, the public health benefit of
18 dealing with this relapsing malaria variant.

19 DR. BADEN: So it's the issue of how much
20 data can be generated as part of a prospective
21 study, which will always be limited, and then how
22 much will be after the fact when there's broader

1 use, and enabling that kind of data acquisition.

2 DR. BEYRER: Yes, but specifically saying
3 that there's another kind of data that's important,
4 which is not just safety of the individual, but the
5 public health.

6 DR. BADEN: The community.

7 DR. BEYRER: Yes, absolutely.

8 DR. BADEN: The community as well.

9 DR. BEYRER: The impact on onward
10 transmission.

11 DR. BADEN: And the same issue with the
12 psychiatric data, which are equally as hard to
13 interpret when you have two cases. Other issues
14 that were raised were around generalizability. And
15 I'm not sure I'm convinced that the activity of
16 this agent and the hypnozoites in one part of the
17 world is different than the activity and
18 hypnozoites in another part of the world. But that
19 issue was raised, and I don't know if others have
20 concerns with that generalizability. We should
21 think more deeply about that. Or is that less of a
22 concern in this setting. It seems like, Dr. Tan,

1 you had a reaction.

2 DR. TAN: With the exception of the Chesson
3 strain that was brought up in Papua, in Indonesia,
4 I would think that this could be generalizable to
5 vivax hypnozoites in other regions.

6 DR. BADEN: Dr. Moore?

7 DR. MOORE: Just to echo what Dr. Tan was
8 saying, I mean, really, when you look at the
9 recommendations for treatment, we use primaquine
10 regardless of where the vivax was acquired
11 globally. Based on these data and although we
12 weren't asked to consider the other data
13 specifically, as I mentioned, this drug has been
14 used in Australia for quite some time in the
15 Australian Defense Ministry.

16 There is little if any reason to believe
17 that there is any reason that this drug would not
18 work for the hypnozoites of vivax, regardless of
19 where they're acquired on the globe.

20 DR. BADEN: Do members of the committee have
21 any other issues they would like to discuss amongst
22 the committee? Dr. Beyrer?

1 DR. BEYRER: Not an additional issue, but
2 just a carry-on to that point, which is I think
3 that we heard from various different speakers today
4 that, of course, the innovation here is the single
5 dose and that, that benefit both likely has bearing
6 in a number of international settings, but also in
7 the U.S. So in that sense, there's also a
8 generalizability to the ease of dosing and the
9 single dose approach that I think that's
10 generalizability to.

11 DR. BADEN: Thank you. Dr. Weina?

12 DR. WEINA: When you brought up the idea of
13 having this discussion among ourselves, I thought
14 that was a great idea from the standpoint that
15 sitting on enough of these committees now, I'm
16 struck by two things.

17 One of the things is that, after the vote
18 and people bring up their points as to why they
19 voted the way they voted, sometimes you see other
20 members go, "Geez, I wish I had thought of that,"
21 number 1.

22 But the other thing I'm struck by is,

1 sitting in enough of these committee meetings,
2 bringing up and raising the issues that we're
3 bringing doesn't necessarily mean that we object to
4 the product going forward or bringing up a point
5 about a safety issue. That it sure seems safe
6 doesn't necessarily mean that we want to
7 necessarily approve it, but it's that discussion
8 that becomes necessary, for example, when we're
9 talking about HIV drugs.

10 I know that I use primaquine very different
11 than a lot of my colleagues that treat malaria just
12 because of my experience with it and my comfort
13 with primaquine, just like many of my colleagues
14 feel much more comfortable with using HIV drugs
15 than I feel when I go to them and ask them for
16 advice as to how to do it. So having these
17 discussion is really important to inform the FDA, I
18 think, about the labeling aspects, and things to
19 think about for the labeling, and how much weight
20 actually to put on things, so those were the things
21 that I'm struck by.

22 DR. BADEN: Before we move to formally

1 voting on the questions, are there any other issues
2 members of the committee would like to discuss and
3 have input on how to weigh or aspects of the
4 presentation or data that warrants some discussion?
5 Dr. Orza?

6 DR. ORZA: Maybe some thinking on, globally,
7 this will be used in places where it's endemic and
8 where people are looking at multiple infections and
9 having this treatment multiple times potentially
10 and just what is the thinking about that, because
11 all we have is information on one time in 6 months,
12 6 months out.

13 DR. BADEN: I've struggled with that point
14 as well, that the data we're given are tafenoquine,
15 chloroquine, given together, times 1. We're not
16 presented tafenoquine given on its own as radical
17 cure. We're not given repeated dosings for radical
18 cure.

19 So from my perspective, there's a lot of
20 data missing. On the other hand, from my
21 perspective, is this a reasonable study to
22 establish the initial efficacy and side effect that

1 isn't so complicated to interpret?

2 So there are many more questions in my mind,
3 and that's why at least in part of the earlier
4 discussion with the applicant and the agency, I was
5 trying to understand if there's a reason to believe
6 that there's a uniqueness of how it was deployed
7 that should restrict how it's used versus the
8 uniqueness of how it was deployed and was a series
9 of pragmatic decisions to generate a reasonable
10 dataset.

11 So I share your concern, but I'm still
12 struck, at least for me, this is not an
13 unreasonable dataset to make an initial assessment
14 with many more questions. Dr. Mailman?

15 MR. MAILMAN: We're given clearance at 6
16 months. It's not like we're giving clearance only
17 two months or three months in. So we're at least
18 through some cycles of the life cycle of malaria,
19 so I don't think it's quite one time. It's a
20 decent period of time.

21 DR. BADEN: Dr. Weina?

22 DR. WEINA: I just want to add the one

1 caveat, though, and that is that I've brought this
2 up at virtually every meeting we've had on every
3 drug. And that is that, when a new drug gets
4 approved and it's approved, here in the United
5 States, we can use it off-label. They can't market
6 it that way, but it sure as hell can be used off
7 label.

8 So if we say let's approve it for single
9 radical-use treatment in 16-year-olds, well, if
10 somebody decides that they want to use it in a 15-
11 year-old for prophylaxis. It's just not approved
12 for that purpose.

13 DR. BADEN: Dr. Moore?

14 DR. MOORE: So these are the concerns that
15 we always have, have had on this panel for years.
16 And there's no way to reconcile that. I mean, we
17 can't protect patients from bad decisions by
18 physicians.

19 UNIDENTIFIED SPEAKER: There should be a
20 shirt that says that.

21 (Laughter.)

22 DR. MOORE: Right. And I'm not saying that

1 one particular thing that he raised is a bad
2 decision. What I'm saying in general is
3 that -- and this is from personal
4 experience -- anecdotally, I can tell you there are
5 lots of physicians -- well, thankfully not lots,
6 but there are some physicians that use drugs off
7 label with disastrous consequences and based on
8 limited or no data.

9 Unfortunately, that's not the reach of this
10 panel. Strictly, that's something that's beyond
11 the reach of the panel. With regard to the
12 tafenoquine data, all I would say is that the
13 pragmatic decisions that led to the generation of
14 the data we were asked to review and discuss also
15 has an ethical basis as well because you can't do
16 the clinical studies in a different way to generate
17 specific data ethically, looking at tafenoquine by
18 itself.

19 That's a serious problem with regard to
20 recruitment. I mean, scientifically and ideally,
21 you'd like to have just tafenoquine by itself, but
22 it can't be done and I think we'll have to look at

1 that if we have a post-marketing study.

2 I take that back. There have been some data
3 which were not presented at this meeting, but will
4 be presented in two weeks regarding the use of
5 tafenoquine, multiple doses, for prophylaxis, which
6 we'll discuss another time.

7 DR. BADEN: But even if data can be
8 generated, we still are left with, are the data
9 before us reasonable for the questions before us.

10 (Crosstalk.)

11 DR. BADEN: Yes. Dr. Orza?

12 DR. ORZA: I agree with the dilemma of,
13 after approval, it can be used however it wants
14 and, ultimately, we can't protect patients from bad
15 decisions. But I don't think we should totally
16 throw up our hands in hopelessness at that because
17 I think that there are things that can be done in
18 the labeling, in the med guide, in the instructions
19 to patients, in the guidelines that the Infectious
20 Disease Society develops that can help both
21 clinicians and patients weigh these options
22 because, hopefully, it will be an option. Right?

1 Globally, I'm not too sure about that, but
2 at least in the U.S., hopefully it will be an
3 option that physicians and patients talk through
4 together and make the decision that's best for that
5 patient.

6 DR. BADEN: Yes, Dr. Mailman?

7 MR. MAILMAN: I'll bring up what I brought
8 up before. We might do something where we do a
9 label that says we require GP6D testing, which is
10 easy to get in the U.S. Or now we're waiting on
11 something else to be developed that might not show
12 up in the time that the drug's available, so it's
13 hard to keep those not decoupled.

14 DR. BADEN: Yes. There will be aspects
15 that'll have to be coupled. We shall move forward
16 now with the voting. So we'll be using an
17 electronic voting system for this meeting.

18 Once we begin the vote, the buttons will
19 start flashing and will continue to flash even
20 after you've entered your vote. Please press the
21 button firmly that corresponds to your vote. If
22 you're unsure of your vote and you wish to change

1 your vote, you may press the corresponding button
2 until the vote is closed.

3 After everyone has completed their vote, the
4 vote will be locked. The vote will then be
5 displayed on the screen. The DFO will read the
6 vote from the screen into the record. Next, we'll
7 go around the room and each individual who voted
8 will state their name and vote into the record.

9 You can also state the reason why you voted
10 as you did if you want to. We'll continue in the
11 same manner until all questions have been answered
12 or discussed. So the question before us is, has
13 the applicant provided substantial evidence of the
14 effectiveness of tafenoquine for the radical cure,
15 prevention of relapse, of plasmodium vivax malaria
16 in patients 16 years of age and older?

17 If yes, please provide any recommendations
18 concerning labeling. If no, what additional
19 studies, analysis are needed? Are there any
20 questions about the wording of the question?

21 (No response.)

22 DR. BADEN: If no questions, then we shall

1 proceed to the vote. Please press the button on
2 your microphone that corresponds to your vote.
3 You'll have approximately 20 seconds and then we're
4 done.

5 (Voting.)

6 MS. BHATT: Voting results, yes 13, no zero,
7 abstain zero, no voting zero.

8 DR. BADEN: So we will start with
9 Dr. Follmann, if you wish to explain any aspects of
10 your vote or advice to the agency.

11 DR. FOLLMANN: Thanks. Yes. I'm Dean
12 Follmann. I voted yes. I thought the efficacy
13 data was very strong. They showed in the dose-
14 ranging study and in the definitive study a
15 substantial benefit compared to placebo.

16 When we do a pooled analysis to compare it
17 to the primaquine regimen, we see they're very
18 similar with confidence interval less than a margin
19 of 10 percent. So this wasn't very hard.

20 Just a couple comments, I don't think we
21 really have any data on reuse of this drug for
22 someone who's infected over several occasions and

1 it seems, within the studies, they could have
2 looked at that. What they did do is, once
3 infection recurred, they were given primaquine. In
4 principle, you could have randomized to primaquine
5 and tafenoquine.

6 Yes. You could have randomized to the two
7 drugs and then you would have gotten some
8 information on repeat use. The other comment I
9 would have about labeling specifically is,
10 primaquine has a label. And I don't know what
11 study it was based on. The studies we saw today
12 were based on excluding severe malaria.

13 So if you're a strict constructionist, maybe
14 that would be in the label. But is that fair in a
15 way? Because maybe primaquine should have the same
16 issue, so I don't really know about that. It seems
17 like there's a potential for labeling disparity
18 given the difference in information the two drugs
19 have had.

20 If one says can't use in severe, the other
21 is agnostic about it, would that fairly tip it to
22 the drug that's agnostic about it? I don't know.

1 So the FDA can wrestle with that.

2 DR. BADEN: Thank you. Dr. Honegger?

3 DR. HONEGGER: Jonathan Honegger. I also
4 voted yes. I felt that the evidence for efficacy
5 was robust. I'm grateful that the investigator
6 sponsored and patients participated with a placebo
7 arm to show that. And I'm grateful that there was
8 a primaquine arm as well for a benchmark
9 comparison.

10 I echo the comments that future analysis of
11 this drug in the setting of when chloroquine is not
12 used will be important.

13 DR. BADEN: Dr. Clark?

14 DR. CLARK: Nina Clark, I voted yes. I
15 thought that the studies were well designed and
16 conducted. And there were no significant
17 discrepancies in analysis noted by the FDA. I also
18 thought the pooled analysis was helpful to look at.
19 And the fact that tafenoquine is a single-dose
20 therapy, to me, represents a really important
21 advantage.

22 Regarding labeling, I agree with

1 contraindicating its use in those with less than 70
2 percent of normal G6PD activity. And I think
3 hematologic tests should be monitored after
4 treatment given the potentially or presumed wider
5 use than the patient types that were included in
6 these studies.

7 DR. BADEN: Thank you. Dr. Gripshover?

8 DR. GRIPSHOVER: Hi. I'm Barb Gripshover
9 and I voted yes as well for the same reasons. I
10 thought that all three studies showed that it was
11 efficacious and that I did appreciate having both
12 the comparator, primaquine, as well as the placebo
13 arm and the pooled analysis was great.

14 I think that I also am worried about repeat
15 infections and I think that's something going
16 forward we want to know if it's going to remain
17 efficacious, especially when it's in areas where
18 people will be repeat infected. And also it's
19 going forward to know if we can use it by itself
20 after either treatment of an acute severe
21 infection; can they again get that rather than
22 primaquine?

1 DR. BADEN: Lindsey Baden. I voted yes. I
2 think the consistency of the efficacy data across
3 the three studies were highly consistent and
4 effective.

5 DR. WEINA: Pete Weina. I voted yes. I
6 think the data was very strong, good, robust data.
7 I think it's critical for us to have options,
8 especially as previously stated because of the
9 possibility of repeat infections and, just to get
10 on my soap box quickly, HIV, tuberculosis, we treat
11 with multiple drugs all the time and we have tons
12 of different options to go with and, for malaria,
13 we have so much disrespect for it that we still
14 treat it with a single drug and hope that it's
15 going to stick around for 50, 60 years. And I
16 don't think we're going to have that luxury in the
17 future, so I think this is critical to have.

18 DR. BADEN: Dr. Green?

19 DR. GREEN: Michael Green. I voted yes. I
20 think that, while formally powered comparisons for
21 noninferiority between primaquine and chloroquine
22 to TQ plus chloroquine was not carried out. The

1 results of the three studies presented convinced me
2 that, in this optimized study circumstance of
3 higher compliance probably with the PQ plus CQ than
4 would be in practice.

5 We saw what I think is probably equivalence
6 and I would presume that, then, in the real-world
7 practice, it would be superior. Having said that,
8 this study was specifically not carried out in
9 patients with severe malaria and, accordingly, I
10 would be concerned with the labeling language that
11 did not account for a lack of evidence in such
12 patients. I would presume that, for at least now,
13 the label would be stating the use would be in
14 combination with chloroquine and not with other
15 agents besides chloroquine for *P. vivax* at this
16 time until there's additional data.

17 People may do it, but I think that this is
18 what the data is based on. This is what my
19 recommendation is voting for and in not knowing how
20 it would combine with other agents, I don't know
21 that there could be unsuspected drug interaction or
22 something that could lead to an untoward outcome

1 that wasn't expected.

2 DR. BADEN: Dr. Orza?

3 DR. ORZA: Michele Orza. I voted yes and I
4 agree with the comments previously made and would
5 just reiterate the suggestion that there be, as I
6 always would like to see, when there are options,
7 that there is help for both the clinician and the
8 patient to weigh those options and make a choice.

9 DR. BADEN: Mr. Mailman?

10 MR. MAILMAN: Josh Mailman, I voted yes. I
11 concur with the statements that were made before,
12 but also voting for patient convenience and patient
13 compliance because I think those are things that
14 will help in this area.

15 DR. BADEN: Thank you. Dr. Moore?

16 DR. MOORE: For me, this is a bit of a slam
17 dunk. I mean, the efficacy data were to me very
18 compelling and the kind of compelling data we don't
19 often see in clinical trials. I think what's been
20 said has been said. I think this would make an
21 excellent addition to the armamentarium of fighting
22 malaria and it's nice to be able to bring the

1 treatment of malaria into the 21st century.

2 DR. BADEN: Thank you. Dr. Tan?

3 DR. TAN: I'm Katherine Tan. I voted yes. I
4 concur with the statements already made about the
5 consistency of the efficacy data and the potential
6 for improved adherence with single dosing. And the
7 clinical advantages aside, I think that this will
8 be a very important tool in the control of global
9 malaria.

10 All of our malaria in the U.S., most of it,
11 is imported from travelers traveling abroad. And
12 so control abroad is really key to controlling
13 imported malaria in the U.S. And so that's another
14 added reason for it.

15 DR. BADEN: Thank you. Dr. Beyrer?

16 DR. BEYRER: Chris Beyrer. I voted yes. I
17 also found the efficacy data and the consistency of
18 it across the three studies very compelling and I
19 also was compelled by the global health malaria
20 control effort. And I found that aspect of this
21 really very important for the global effort against
22 malaria.

1 DR. BADEN: Thank you. Dr. Zito?

2 DR. ZITO: I voted yes and I have two points
3 in terms of recommendations. I'm not an expert in
4 the field of infectious disease, but I would like
5 to suggest that a strong, creative approach to
6 post-marketing surveillance could be putting in
7 place -- today we have electronic medical records
8 and all sorts of good opportunities to do really
9 essentially protocols in community-based
10 individuals, which would add greatly to
11 generalizability.

12 Also, this was a 6-month endpoint and I'd
13 like to know about one year or after at a minimum.
14 And then my second recommendation is again as a
15 non-expert in the labeling. I was quite astonished
16 at the use of the term radical cure, which is
17 really not an operational definition of what has
18 taken place in this study.

19 I don't really understand the merits of
20 using that. And so I would recommend that you just
21 stick with what you actually measured
22 operationally, which is whatever the words are, but

1 I think you all know it better than me.

2 DR. BADEN: Thank you.

3 So just to briefly summarize for the agency,
4 it was unanimous on efficacy. There was one panel
5 member who said a slam dunk. As many panel members
6 said data highly consistent regarding efficacy.
7 However, there are many lingering questions that
8 will have to be worked out on efficacy, including
9 the repeat dose issue, how the label reflects
10 primaquine, not to confuse the community, although
11 those are different questions, how to use in severe
12 vivax, where it may not be usable up front, but
13 perhaps in the tail.

14 The options for treatment is really
15 important. The global health benefit is important.
16 We need to provide some guidance to the patient
17 provider so they understand how this new agent fits
18 in. The convenience compliance is a very important
19 factor. The issue of efficacy; we'll need to
20 dissect out reinfection versus relapse and
21 durability at a year when tools become available
22 that can help dissect that out.

1 Then the generalizability seemed reasonable.
2 So that is on the efficacy side. Question 2, has
3 the applicant provided adequate evidence of the
4 safety of tafenoquine for the radical cure,
5 prevention of relapse of plasmodium vivax malaria
6 in patients 16 years of age and older. If yes,
7 please provide any recommendations concerning the
8 labeling. If no, what additional studies and
9 analyses are needed?

10 We'll now go to the vote with the same
11 process.

12 (Voting.)

13 DR. BHATT: Voting results, yes 12, no 1,
14 abstain 0, no voting 0.

15 DR. BADEN: We will now start with Dr. Zito.
16 And state your vote for the record and any comments
17 regarding the FDA's labeling issue.

18 DR. ZITO: Well, except the safety
19 information and the consistency of the safety
20 information, there certainly are a lot of debatable
21 points that came up here that relate to the
22 importance of the neuropsychiatric adverse events,

1 for one, and the hemoglobin decrease for another.

2 So I'm imagining that these would be areas
3 for post-marketing surveillance to settle those
4 questions.

5 DR. BADEN: Thank you. Dr. Beyrer?

6 DR. BEYRER: Chris Beyrer. I voted yes as
7 well on the safety issues. I thought that safety
8 looked quite consistent with primaquine. And as we
9 discussed as a group, the labeling issues around
10 G6PD is an important aspect of the safety.

11 We know that and we know that, that is
12 already part of further discussions and pre-
13 existing psychiatric conditions warning on the
14 labeling. But I was otherwise quite comfortable
15 with the safety.

16 DR. BADEN: Thank you. Dr. Tan?

17 DR. TAN: Katherine Tan. I voted yes. Just
18 to echo what Dr. Beyrer has said, I thought the
19 safety profile was similar to primaquine. We have
20 already discussed G6PD testing.

21 DR. BADEN: Thank you. Dr. Moore?

22 DR. MOORE: Not much to add. There remain

1 some safety concerns, which I think with a single
2 dose of treatment, based on the data provided, are
3 very reassuring that, if they are present there,
4 they appear to be minimal or no greater than
5 primaquine.

6 Whether that is true for repeated dosing is
7 another story in which we'll presumably be
8 addressed with the meeting in two weeks.

9 DR. BADEN: Dr. Mailman?

10 MR. MAILMAN: Josh Mailman. I voted yes. I
11 echo what the previous speakers have said. I
12 thought that both the sponsor and the FDA responded
13 to all the questions about additional safety
14 concerns and also what we've already addressed with
15 the G6PD.

16 DR. BADEN: Dr. Orza?

17 DR. ORZA: Michele Orza. I voted no. I'm a
18 little nervous about being a minority of one in
19 this august group. I was reacting largely to the
20 word adequate and the discussion that we had about
21 how fundamentally it's never really adequate. I
22 mean, I am reassured that it's broadly similar to

1 the profile for the PQ. I have some remaining
2 concerns about the long half-life and especially
3 concerns about, when it gets out there globally in
4 settings that are very much less controlled and
5 supported than the U.S. setting, that there be a
6 lot more attention paid to post-marketing and
7 studies and surveillance.

8 So the no vote was really to encourage that
9 to happen.

10 DR. BADEN: Dr. Green?

11 DR. GREEN: I voted yes. In sitting through
12 the presentations and especially the concern for
13 the potential for neuropsychiatric adverse events,
14 it became clear to me why this was brought to the
15 committee. The data shared with us today appears
16 to include as many as 3,000 plus treated
17 individuals, including those receiving a higher
18 and/or lower dose than what is being proposed as
19 the indicated dose.

20 To my mind, the data as presented do not
21 confirm a risk for this potential neuropsychiatric
22 side effect, though it does not rule it out and

1 does support a need for ongoing vigilance.

2 Further, I think the studies in lab animals
3 looking for potential neuropsychiatric effects are
4 encouraging. Thank you for sharing it. I would
5 have encouraged you just to include that in the
6 plan presentation and not wait for one of us to
7 potentially ask to see those data because it was
8 really very reassuring.

9 Having said that, the need for ongoing
10 vigilance does not warrant a specific
11 contraindication through labeling, advising
12 consideration to potential risks and benefits in
13 the individuals with a prior history of
14 neuropsychiatric disease.

15 However, potentially considering using it
16 with caution might be reasonable, so you can
17 consider that and then individualize your decision.

18 In terms of other side effects discussed, I
19 agree within support the plan for post-marketing
20 surveillance in both the United States and
21 elsewhere in the world for the side effects with
22 anemia, nausea, et cetera.

1 DR. BADEN: Dr. Weina?

2 DR. WEINA: Pete Weina. I voted yes. And I
3 have to basically echo an awful lot of what
4 Dr. Green just brought up. I understand why a
5 presentation that seemed like a total slam dunk,
6 they wanted to bring to the committee. And that
7 was probably because of the side effect issues.

8 I have to agree with Dr. Green that I don't
9 think the data supports an overt concern at that.
10 Of course, you can never truly rule it out, so
11 having post-marketing surveillances is always
12 critically important and prudent, but specific
13 labeling regarding it, I don't think is warranted.

14 DR. BADEN: Lindsey Baden. I also voted
15 yes. Safety is forever a difficult area to assess
16 given efficacy is geared to a specific finding and
17 one can power a study for that. Safety often
18 appears random early on until a pattern emerges and
19 maybe at a 1-in-100 rate, or 1-in-1,000 rate, or 1-
20 in-1,000,000 rate.

21 However, the fear of a safety concern is not
22 a reason not to move forward with a therapy that

1 has efficacy for a disease with real consequence.
2 And so the amount of safety data presented seemed
3 reasonable and reasonably reassuring. However, the
4 two hypersensitivity cases, the two psychosis
5 causes are pause for concern and warrant very
6 careful post-marketing monitoring to see if a
7 pattern really emerges and then a mitigation
8 strategy if necessary.

9 However, a concern for a possible safety
10 concern in the future is not a reason not to have a
11 therapy available today. And I think the totality
12 of the safety data in the context of primaquine is
13 quite reassuring and just warrants, as stated
14 before, some way to enhance post-marketing studies.
15 And I would commend that to the applicant to do
16 their due diligence in post-marketing studies and
17 to the agency to try and encourage such data to be
18 generated, as it will serve all of us better to
19 have those data. But overall, the safety data, I
20 think, were reassuring. Dr. Gripshover?

21 DR. GRIPSHOVER: I'm Barb Gripshover and I
22 voted yes. I thought the safety data did look

1 pretty comparable to primaquine. I think, in terms
2 of the label, one thing that I think is important
3 just to make a note, that people remember that drug
4 does stay in you for 10 weeks. And people think
5 that, they give it one dose and they may forget
6 when someone comes with hypersensitivity two weeks
7 later.

8 So I think that it should just be in there
9 as a reminder and that maybe we should use with
10 caution in persons with a history of psychosis. I
11 wouldn't say don't, but until we know more,
12 especially since we do have another option that
13 isn't in you for 10 weeks, and then just to maybe
14 note that we have seen some late hypersensitivity
15 cases -- and then if we don't see them in the post-
16 marketing studies later on, we could maybe always
17 take that out.

18 DR. BADEN: Dr. Clark?

19 DR. CLARK: Nina Clark. I voted yes. And I
20 had similar thoughts to others on the panel who
21 voted yes. I thought that the safety data
22 presented were reassuring. That being said, there

1 are limited data in older adults and some other
2 populations. And I'm wondering if the labeling
3 should reflect that such as people with renal or
4 liver dysfunction, elderly, and those who are on
5 drugs that may be substrates for the renal
6 transporters.

7 DR. BADEN: Dr. Honegger?

8 DR. HONEGGER: Jonathan Honegger. I voted
9 yes for reasons that have already been mentioned.
10 I just wanted to also echo or state that I'm glad
11 to hear that pediatric studies are ongoing, I
12 believe, and I hope that those are carried through
13 to completion. Also, just to give some perspective
14 that made me feel comfortable is that, in the U.S.,
15 at least examples I'm familiar with, people who
16 treat malaria don't see it all the time, so we tend
17 to go back and look at guidelines and look things
18 up.

19 I'm often going to the CDC. So in addition
20 to the agency and the sponsor, hopefully in the
21 post-marketing protocols, can be aligned with the
22 CDC, which is a website that people tend to turn to

1 when they're treating malaria.

2 DR. BADEN: Thank you. Dr. Follmann?

3 DR. FOLLMANN: I'm Dean Follmann. I voted
4 yes for many of the reasons that have been
5 articulated already. I thought the safety profile
6 was similar to PQ. I think, for a U.S. post-
7 marketing study, I think I'd be nice to look at
8 both PQ and TQ so you can have a comparison group
9 instead of just a one-arm post-marketing study.
10 And that's all I have.

11 DR. BADEN: Thank you. So that concludes
12 the voting and discussion for the agency. It was
13 12 yes, 1 no on the adequacy of the safety data.
14 The overall sentiment was, it looked broadly
15 similar to PQ. The safety data was largely
16 reassuring. However, the series of events of
17 specific note require careful monitoring in a post-
18 marketing assessment.

19 The hemoglobin, the methemoglobinemia, the
20 G6PD, should be easier to slate into a label. The
21 issue of the PK data so that the practitioners are
22 aware of the real tale in half-life, so that

1 they're able to incorporate that into their
2 thinking is probably prudent, and that other
3 populations such as elderly, pediatric, those with
4 co-morbid illness need to be thought about in case
5 there are any alterations in drug handling and if
6 not, as those data are generated, to also be
7 provided so the community is aware.

8 The 1 no vote was just overall concerned
9 about the adequacy of the safety data, which is of
10 course a lingering concern. So now that we are
11 complete with the committee's activities, I would
12 like to thank the applicant and the agency for
13 tremendous presentations of a tremendous amount of
14 data to allow the committee to have such a fruitful
15 discussion.

16 Then if there are any final comments from
17 the agency?

18 DR. NAMBIAR: Thank you, Dr. Baden. I'd
19 like to thank the committee for taking the time to
20 participate in today's discussion and for all the
21 advice they have given us, very useful. We'd like
22 to thank all the speakers at the open public

1 hearing for sharing their thoughts and also would
2 like to thank the applicant for all the hard work
3 that they've done with this application. And
4 lastly, big thanks to the review team at our end
5 for all the hard work as well.

6 I wish you all safe travels and we'll see
7 some of you in two weeks.

8 **Adjournment**

9 DR. BADEN: The meeting is now adjourned and
10 you have 25 minutes back.

11 (Whereupon, at 3:35 p.m., the meeting was
12 adjourned.)

13

14

15

16

17

18

19

20

21

22