

**FOOD AND DRUG ADMINISTRATION (FDA)
Center for Biologics Evaluation and Research (CBER)
120th Meeting of the Blood Products Advisory Committee**

OPEN PUBLIC MEETING

**TOPIC I: Evaluating Strategies to Reduce the Risk of Zika
Virus (ZIKV) Transmission by Blood and Blood Components**

**FDA White Oak Campus
10903 New Hampshire Avenue
Great Room, Building 31
Silver Spring, MD 20903**

March 20, 2019

This transcript appears as received from the commercial transcribing service after inclusion of minor corrections to typographical and factual errors as recommended by the DFO.

ATTENDEES

Richard M. Kaufman, M.D.	Brigham and Women's Hospital
Prabhakara Atreya, PhD	Food and Drug Administration (FDA)
Judith Baker, Dr.PH, MHSA	UCLA- Division of Pediatric Hematology/Oncology
Sridhar V. Basavaraju, M.D.	Centers for Disease Control & Prevention
Evan Bloch, M.D., M.S.	Johns Hopkins University School of Medicine
Barbara Bryant, M.D.	University of Texas Medical Branch
Meera B. Chitlur, M.D.	Wayne State University
Alfred DeMaria, M.D.	Bureau of Infectious Disease and Laboratory Science
Michael DeVan, M.D.	Walter Reed National Military Medical Center
Roger Lewis, M.D., Ph.D.	Harbor-UCLA Medical Center
Thomas Ortel, M.D., Ph.D.	Duke University Medical Center
Martin Schreiber, M.D.	Oregon Health & Sciences University
Amy Shapiro, M.D.	Indiana Hemophilia and Thrombosis Center
Jack Stapleton, M.D.	University of Iowa
Susan L. Stramer, Ph.D.	American Red Cross
F. Blaine Hollinger, M.D.	Baylor College of Medicine
Caren Chancey, Ph.D.	Office of Blood Research and Review, FDA
Marc Fischer, M.D.	Centers for Disease Control and Prevention
Sirjana Rajbhandary, M.P.H.	AABB
David Leiby, Ph.D.	Office of Blood Review and Research, FDA

Andrei Kindzelski L., M.D., Ph.D.	National Institutes of Health
Tom Hardiman, M.D.	ROCHE
Jerry Holmberg, M.D.	GRIFOLS
Mike Busch, M.D.	VITALANT

TABLE OF CONTENTS

CALL TO ORDER/INTRODUCTIONS	5
CONFLICT OF INTEREST STATEMENT	10
EVALUATION OF STRATEGIES TO REDUCE THE RISK OF ZIKA VIRUS TRANSMISSION BY BLOOD AND BLOOD COMPONENTS	18
UPDATE ON THE CURRENT STATUS OF THE ZIKA EPIDEMIC	30
AABB ZIKV BIOVIGILANCE NETWORK.....	66
CURRENT CONSIDERATIONS FOR REDUCING THE RISK OF TRANSFUSION-TRANSMITTED ZIKV.....	86
OPEN PUBLIC HEARING	115
OPEN COMMITTEE DISCUSSION AND VOTE.....	141

1 **CALL TO ORDER/INTRODUCTIONS**

2 **DR. KAUFMAN:** Hello. We're going to go ahead
3 and get started. So, if I can ask everyone to take
4 their seats. I really want to welcome everyone to what
5 should be a very interesting couple of days. In
6 particular, I want to welcome the members of BPAC as
7 well as the speakers who will be presenting on the
8 various topics that we will be talking about. I'd like
9 to also welcome members of the general public and the
10 audience who is joining us by webcast.

11 Just to get started, I want to point out that
12 we have a couple of members of the committee that are
13 calling in: so, Dr. Meera Chitlur and also Sue Stramer.
14 And for everyone else that's here, I'd like to ask that
15 the members please introduce themselves and give your
16 institutional affiliation as well as your expertise.
17 I'll start with Dr. Schreiber, please.

18 **DR. SCHREIBER:** Hi. I'm Marty Schreiber. I'm
19 from Portland, Oregon. I work at Oregon Health and
20 Science University, and I'm a general surgeon there. I

1 have a laboratory, and we are very interested in novel
2 blood products. And I think that's why I'm sitting
3 here today. Thank you.

4 **DR. BAKER:** Hi, Judith Baker from the Center
5 for Inherited Blood Disorders in California, and UCLA,
6 Peds Hematology. My background is in public health.

7 **DR. BLOCH:** I'm Evan Bloch. I'm Associate
8 Director of Transfusion Medicine at Johns Hopkins.

9 **DR. STAPLETON:** Jack Stapleton. I'm a
10 professor in internal medicine and microbiology at the
11 University of Iowa. I'm an infectious disease
12 physician who clinically does HIV and laboratory works
13 on flaviviruses and HIV interactions.

14 **DR. DE MARIA:** Al DeMaria. I'm the medical
15 and laboratory consultant with the Massachusetts
16 Department of Health, and formally state epidemiologist
17 and medical director at the Department of Public
18 Health.

19 **DR. BRYANT:** I'm Barbara Bryant. I'm from the
20 University of Texas Medical Branch in Galveston. I'm
21 the medical director of the transfusion service.

1 **DR. HOLLINGER:** I'm Blaine Hollinger. I'm
2 Professor of Medicine, Molecular Virology and
3 Epidemiology at Baylor College of Medicine, mostly
4 expertise in blood-borne pathogens, particularly
5 hepatitis.

6 **DR. DEVAN:** Hi, I'm Mike DeVan. I'm the
7 medical director for transfusion services at Walter
8 Reed.

9 **DR. KINDZELSKI:** Hi. Andrei Kindzelski.
10 Program director of blood division NHLBI NIH.

11 **DR. SHAPIRO:** I'm Amy Shapiro. I'm a
12 pediatric hematologist from the Indiana Hemophilia and
13 Thrombosis Center where I'm the medical director. My
14 main interests are hemostasis and thrombosis and benign
15 hematology.

16 **DR. ORTEL:** Tom Ortel from Duke. I'm chief of
17 hematology there. My primary interests are in
18 hemostasis and thrombosis.

19 **DR. LEWIS:** Roger Lewis. I'm the chair of
20 Emergency Medicine at Harbor-UCLA Medical Center in Los
21 Angeles, affiliated with UCLA. My primary academic

1 interest is in clinical emergency medicine and clinical
2 trial design and statistics.

3 **DR. BASAVARAJU:** Sridhar Basavaraju, director
4 of the CDC Office of Blood, Organ, and Other Tissue
5 Safety.

6 **DR. KAUFMAN:** I'll be chairing the meeting.
7 My name is Richard Kaufman. I'm the medical director
8 for the Transfusion Service at the Brigham and Women's
9 Hospital in Boston, and my specialty is transfusion
10 medicine.

11 So, I'd like to ask Prabha to please read the
12 Conflict of Interest statement.

13 **DR. P. ATREYA:** Before I do that, Dr. Chitlur,
14 are you online? Are you available by phone? Can you
15 introduce yourself. Okay. And Dr. Sue Stramer?

16 **DR. STRAMER:** Yes. Can you hear me?

17 **DR. P. ATREYA:** Yes. Can you introduce
18 yourself, please?

19 **DR. STRAMER:** Certainly. My name is Susan
20 Stramer. I'm the industry representative to the
21 committee. My day job is Vice President of Scientific

1 Affairs at the American Red Cross, and my interests are
2 infectious disease and testing.

3 **DR. P. ATREYA:** Excellent. Thank you. Good
4 morning everyone. My name is Prabhakara Atreya and it
5 is my pleasure to serve as the Designated Federal
6 Officer for the 120th BPAC meeting. The committee
7 management specialists for this meeting are Ms. Joanne
8 Lipkind, Natalie Mitchell-Funderburk and Angelica
9 Jones. They are outside at the registration table.
10 And the committee management officer for this meeting
11 is Ms. Marie Keller who assisted in the Conflict of
12 Interest reading and also making travel and meeting
13 arrangements.

14 On behalf of the FDA, the Center for Biologics
15 Evaluation and Research, we would like to welcome
16 everyone to this meeting. The meeting has three topics
17 overall. We will complete topic one and two today and
18 topic three tomorrow. Today's session topic one is
19 open to the public in its entirety. The topic two has
20 an open session followed by a closed session.

21 The meeting has been announced in the Federal

1 Register Notice on February 15, 2019. The press media
2 representative of the FDA today is Paul Richards and
3 also Ms. Megan McSeveney. I think Mr. Paul Richards is
4 in the audience, if you can stand. So, if anybody has
5 a question, you can contact him.

6 I would also like to remind everyone to please
7 check your pagers and cell phones, and please make sure
8 they that are turned off or on silent mode. Also, when
9 you make your statement, please state your name first
10 and then speak up so that the comments are accurately
11 recorded by the transcriptionist. Our transcriptionist
12 today is Linda Giles here. And also, now I'll proceed
13 to read the Conflict of Interest statement.

14 **CONFLICT OF INTEREST STATEMENT**

15 The Food and Drug Administration is convening
16 today, March 20, 2019, for the 120th meeting of the
17 Blood Products Advisory Committee under the authority
18 of the Federal Advisory Committee Act, FACA, of 1972.

19 Dr. Richard Kaufman is serving as the chair of
20 the meeting for all three topics covered between today
21 and tomorrow.

1 Today, on March 20, 2019, for topic one BPAC
2 will meet in open session to discuss the evaluation
3 strategies to reduce the risk of Zika virus
4 transmission by blood and blood components. This topic
5 is determined to be a particular matter of general
6 applicability. Presenters and speakers will likely
7 provide data on various products or strategies that set
8 only as examples for the committee to have a scientific
9 discussion while considering various classes of
10 products or strategies related to the topic.

11 This meeting is not being convened to
12 recommend any action against or approval of any
13 specific product or strategy or to make specific
14 recommendations that may potentially impact any
15 specific party, entity, or individual or form in a
16 unique way.

17 Similarly, this meeting will not involve the
18 approval or disapproval of labeling requirements, post-
19 marketing requirements, or related issues regarding the
20 legal status of any specific products. Any discussion
21 of individual products will be only to serve as

1 examples of the product class.

2 In the afternoon for topic two, in the open
3 session, the committee will hear overview presentations
4 on the intramural laboratory research programs of the
5 Laboratory of Biochemistry and Vascular Biology from
6 the Division of Blood Components and Devices of Office
7 of Blood Research and Review.

8 Per agency guidance, this session is
9 determined to be a non-particular matter which will
10 have no impact on outside financial interests. Hence,
11 no affected firms were identified, and members were not
12 screened for this topic. In the latter part of the
13 afternoon, the meeting will be closed to permit
14 discussions where disclosure would constitute a clearly
15 unwarranted invasion of personal privacy under 5 U.S.C.
16 552(b)(c)(6).

17 With the exception of the industry
18 representative, all participants of the committee
19 around the table are either special government
20 employees or regular government employees from the
21 other agencies who are subjected to the federal

1 Conflict of Interest laws and regulations. The
2 following information on the status of this advisory
3 committee's compliance with the federal ethics and
4 Conflict of Interest laws including, but not limited
5 to, 18 U.S. Code 208 is being provided to participants
6 at this meeting and to the public. This Conflict of
7 Interest statement will also be available for public
8 viewing at the registration table.

9 Related to the discussions at this meeting,
10 all members and SGE consultants of this committee have
11 been screened for their potential financial conflicts
12 of interest of their own as well as those imputed to
13 them, including those of their spouse or minor children
14 and, for the purpose of 18 U.S. Code 208, their
15 employers.

16 These interests may include investments,
17 consulting, expert witness testimony, contracts,
18 grants, CRADAs, teaching, speaking, writing, patents,
19 and royalties, and their primary employment.

20 FDA has determined that all members of this
21 advisory committee are in compliance with federal

1 ethics and Conflicts of Interest laws.

2 Under the 18 U.S. Code 208, Congress also has
3 authorized the FDA to grant waivers to special
4 government employees and regular government employees
5 from other agencies who have financial conflicts of
6 interest when it is determined that the agency's need
7 for a particular individual's service as a subject
8 matter expert outweighs the concern related to his or
9 her potential financial conflicts of interest.

10 However, based on today's agenda and all financial
11 interests reported by members and consultants, no
12 Conflict of Interest waivers were issued under 18 U.S.
13 Code 208.

14 Dr. Sue Stramer is currently serving as the
15 industry representative to this committee. Dr. Stramer
16 is Vice President of Scientific Affairs at the American
17 Red Cross. Industry representatives act on behalf of
18 all regulated industry and bring general industry
19 perspective to the committee. Industry representatives
20 are not appointed as special government employees and
21 they serve only as non-voting members of the committee.

1 Hence, industry representatives are not screened, and
2 they do not participate in the closed sessions and do
3 not have voting privileges.

4 Dr. Judith Baker is serving as the consumer
5 representative of this committee. Consumer
6 representatives are appointed special government
7 employees and are screened and cleared prior to their
8 participation in the meeting. They are voting members
9 of the committee and, hence, they do have voting
10 privileges and they do participate in the closed
11 sessions if they are held.

12 Dr. Blaine Hollinger serves today as a
13 temporary voting member for all topics of this meeting.
14 He's a professor of medicine, molecular virology and
15 epidemiology, as well as the director of Eugene Casey
16 Hepatitis Research Center at Baylor College of
17 Medicine. He brings his expertise with the bloodborne
18 infectious diseases for the benefit of the committee
19 discussions.

20 With regards to the external speakers, Dr.
21 Marc Fischer is employed by CDC and serves as one of

1 the speakers for this meeting on the topic one. Dr.
2 Fischer is a regular government employee and has been
3 screened prior to his participation.

4 At this meeting, there may be other regulated
5 industry speakers and outside organization speakers
6 making presentations. These participants may have
7 financial conflicts of interest associated with their
8 employer and with other regulated firms. The FDA asks
9 in the interest of fairness that they address any
10 current or previous financial involvement with any
11 firms whose products they may wish to comment upon.
12 These individuals were not screened by the FDA for
13 conflicts of interest.

14 FDA encourages all of the participants to
15 advise the committee of any financial relationship that
16 they may have with any firms, these products, and if
17 known, any stated competitors.

18 We would like to remind members, consultants,
19 and participants that if the discussions involve any of
20 the products or firms not already on the agenda for
21 which an FDA participant has a personal or imputed

1 financial interest, the participants need to inform the
2 DFO and exclude themselves from such involvement and
3 discussions. Their exclusion will be noted for the
4 record.

5 This concludes my reading of the Conflicts of
6 Interest statement for the public record.

7 At this time, I would like to hand over the
8 meeting to our chair, Dr. Kaufman. Thank you, Dr.
9 Kaufman.

10 **DR. KAUFMAN:** Thank you. So, we'll begin with
11 topic one: Evaluation of Strategies to Reduce the Risk
12 of Zika Virus Transmission by Blood and Blood
13 Components. And at the conclusion of this session, the
14 BPAC will be asked to vote on potential strategies for
15 screening for Zika; namely continuing with the current
16 approach of minipool or NAT testing of all units,
17 ceasing all testing, or something in the middle.

18 So, I'd like to first introduce our first
19 speaker, Dr. Caren Chancey from FDA. She'll be talking
20 about the Evaluation of Strategies to Reduce the Risk
21 of Zika Virus Transmission by Blood and Blood

1 Components.

2 Before you begin, Dr. Chitlur, are you able to
3 see the slides?

4 **DR. CHITLUR:** Yes, I am. Thank you very much.

5

6 **EVALUATION OF STRATEGIES TO REDUCE THE RISK OF ZIKA**

7 **VIRUS TRANSMISSION BY BLOOD AND BLOOD COMPONENTS**

8

9 **DR. CHANCEY:** Okay, can everyone hear me?

10 Okay. Thank you. My name is Caren Chancey. I'm a
11 biologist with the Product Review Branch in the
12 Division of Emerging and Transfusion Transmitted
13 Diseases in OBRR. I'll be introducing our topic one
14 today, which again is Evaluation of Strategies to
15 Reduce the Risk of Zika Virus Transmission by Blood and
16 Blood Components.

17 Our topic one issue for today is that the
18 current FDA guidance issued in July 2018 recommends
19 universal testing for U.S. blood donations by minipool
20 nucleic acid testing, MP NAT, or individual donation
21 nucleic acid testing, ID NAT, with triggering the ID

1 NAT when certain conditions are met, indicating risk of
2 Zika transmission. However, the available information
3 indicates a decline in Zika transmission in the U.S.
4 and the Americas. Therefore, FDA is reevaluating its
5 July 2018 recommendations on testing blood donations
6 for Zika using MP or ID NAT.

7 For topic one, today, I will be presenting
8 some background on the issue on Zika virus itself; on
9 public health risks and U.S. blood safety concerns; and
10 finally, a brief history of the screening of the U.S.
11 blood supply for Zika. I'll then briefly outline the
12 speakers for the rest of this topic. Then you'll hear
13 from the FDA on consideration of blood safety options,
14 questions for the committee, open public hearing,
15 discussions, and voting.

16 Zika virus is an enveloped arthropod-borne
17 virus, or arbovirus, with a single-stranded RNA genome.
18 It is a member of the family Flaviviridae and the genus
19 Flavivirus. As such, it is closely related to other
20 transfusion-transmitted viruses, such as the dengue
21 virus, West Nile virus, and yellow fever virus. Over

1 here on the right is a schematic of a cross-sectional
2 and surface view of a representative flavivirus showing
3 the protein envelope and genomic RNA.

4 Zika virus is transmitted primarily by Aedes
5 mosquitoes. These are also the mosquitoes that
6 transmit dengue, yellow fever, and chikungunya virus,
7 which is an alphavirus. Zika is most commonly
8 transmitted by Aedes aegypti. It was first identified
9 in a rhesus monkey in the Zika forest of Uganda in
10 1947. The first human infections were reported from
11 Nigeria in 1953.

12 The transmission routes for Zika virus or
13 similar to those of other viruses for which humans are
14 a reservoir host. Most commonly, Zika is transmitted
15 between humans by the Aedes aegypti mosquito. Less
16 commonly, it may also be transmitted from human to
17 human during pregnancy from an infected mother to a
18 fetus, between sexual partners, by blood transfusion,
19 and rarely by laboratory transmission or other routes.

20 Although Zika virus was first identified over
21 70 years ago, it was not really considered a

1 significant public health risk until an outbreak in the
2 Americas in 2015 and a similar outbreak in French
3 Polynesia a few years earlier. At that time, the high
4 potential for the Zika outbreak to spread to the United
5 States was recognized due to an increase in
6 travel-associated Zika virus cases due to U.S.
7 travelers returning from Zika-affected areas, which are
8 now greater in number and closer to the U.S. Also risk
9 came from the presence of competent mosquito vectors
10 throughout much of the United States.

11 Although Zika was originally considered to be
12 relatively mild and self-limiting in healthy adults, as
13 the outbreak progressed, significant potential
14 morbidity of Zika was recognized, including congenital
15 microcephaly in infants that were born to mothers that
16 were infected with Zika during their pregnancy and also
17 the neurological disease Guillain-Barré syndrome.

18 Sexual transmission was recognized for Zika
19 virus and also it was thought likely and, as I will
20 discuss further, was demonstrated that transfusion
21 transmission also could occur for Zika virus.

1 Zika virus is believed to be a risk for a
2 transfusion transmission first off because other
3 flaviviruses such as West Nile and yellow fever are
4 known to be transfusion transmitted, and dengue. Like
5 those viruses, most, approximately 80 percent, of
6 individuals remain asymptomatic and thus feel well
7 enough to donate blood and would not be recognized by
8 symptomatic screening.

9 The presence of virus in the blood may begin
10 one to two days prior to symptom onset, if symptoms
11 occur; and typically persists one to two weeks,
12 although it may last longer in some individuals. And
13 viral RNA can typically be detected for longer in whole
14 blood or red blood cells relative to serum or plasma.

15 And what we know regarding Zika, specifically,
16 is that in studies of the outbreaks in French Polynesia
17 and the Americas it was shown that asymptomatic blood
18 donors were Zika RNA positive at rates of 2.8 percent
19 over the outbreak in French Polynesia and at peak rates
20 in the Americas ranging from 1.8 to 3 percent. Three
21 probable transfusion-transmitted cases were reported

1 from Brazil. And lastly, a retrospective study of the
2 French Polynesian outbreak identified 30 Zika RNA
3 reactive units that were transfused to 26 patients.
4 However, in follow-up on the 12 patients for which
5 information was available, none of them had Zika
6 symptoms following transfusion.

7 So, FDA has issued guidance on Zika virus and
8 transfusion transmission. The initial guidance was
9 issued in February 2016, entitled Recommendations for
10 Donor Screening, Deferral, and Product Management to
11 Reduce the Risk of Transfusion Transmission of Zika
12 Virus.

13 This guidance was revised twice: in August
14 2016 and then again in July 2018. And I would also
15 like to note at this point that the guidance for blood
16 screening is the only one under discussion today.
17 There will be no changes to the tissue screening
18 guidance at this time.

19 In the initial guidance from February 2016, it
20 was divided into areas with and without active Zika
21 transmission. Areas with active Zika transmission were

1 directed to obtain blood and blood components from
2 unaffected areas until pathogen reduction technology
3 and/or testing for Zika became available. This
4 affected Puerto Rico significantly. All blood
5 components were obtained from the continental U.S.
6 between March 5, 2016, and April 2, 2016, due to a
7 significant Zika outbreak in Puerto Rico. At that
8 point, Zika NAT was implemented under an
9 investigational new drug protocol on April 3, 2016.

10 For areas without active Zika transmission, it
11 was recommended that donors be screened by
12 questionnaire and deferrals then be issued for known
13 Zika infection or risk that was associated with travel
14 to Zika-affected areas or sexual transmission.

15 After the first guidance was issued, a
16 number of significant pieces of knowledge were obtained.
17 First and most importantly, two NAT-based assays for
18 Zika virus were developed. And testing began under IND
19 in April 2016 for Roche's assay and June 2016 for
20 Grifols' assay.

21 The first reports of local mosquito-borne

1 transmission in the United States occurred in Florida
2 during this time. And it was also noted that a
3 significant lag time existed between the transmission
4 themselves and recognition and confirmation of local
5 mosquito-borne transmission.

6 Also over this time, concern increased about
7 sexual transmission as a mode of spread of the epidemic
8 because that really had not been previously recognized
9 as a mode of spread for an arbovirus.

10 This increased the logistic complexity and
11 challenges of donor screening for these risk factors,
12 especially for local transmission, for questioning for
13 domestic travel as opposed to travel outside the
14 country. And ultimately, it was believed that there
15 would be a potential effect of travel-based deferrals
16 on the adequacy of the blood supply in some areas.

17 Therefore, in August 2016, FDA issued a
18 revised guidance stating that all donations collected
19 in the U.S. and its territories must be tested by
20 investigational or licensed when available ID NAT or
21 pathogen reduced using FDA approved PRT devices for

1 plasma or apheresis platelets.

2 ID NAT was phased in different areas of the
3 United States based on risk through December 2016.
4 Donor screening and deferral based on travel and sexual
5 contact were discontinued, except that the deferral for
6 recent history of confirmed Zika infection was
7 maintained for 120 days after the positive viral test
8 or resolution of symptoms, whichever was longer.

9 So, at the time of this guidance in 2016 --
10 I'm not going to discuss this extensively because
11 you're going to hear more about it from the CDC, but a
12 significant outbreak did occur in the U.S. territories
13 and states. Over 5,000 cases in the U.S., most of
14 which were from travelers, but 224 locally transmitted
15 by mosquitoes. In the U.S. territory, over 36,000
16 cases, almost all of which were locally transmitted.

17 During this period, U.S. blood donations were
18 being tested by Zika ID NAT under IND using two tests:
19 the investigational cobas Zika test from Roche, which
20 was licensed by the FDA on October 5, 2017; and then,
21 at the time, investigational Procleix Zika assay from

1 Grifols, which was then licensed by the FDA on July 5,
2 2018.

3 In the U.S. states, over 11 million donations
4 were tested with 398 initially reactive and 50
5 confirmed positive. In Puerto Rico, 111,842 donations
6 were tested with 369 initially reactive, 356 of those
7 were confirmed positive.

8 Again, just briefly, between 2017 and 2018,
9 there was a significant drop-off in cases in both the
10 U.S. states and the U.S. territories, and no local
11 transmission observed in the United States in 2018.

12 So again, in the light of that information, we
13 undertook reevaluating some risk in 2017 through 2018
14 overall with the decrease in Zika cases reported both
15 in the U.S. and worldwide over those years. Therefore,
16 FDA convened a meeting of the Blood Products Advisory
17 Committee in December 2017. And they provided advice
18 on screening blood donors for Zika.

19 First, the committee recommended that the
20 incidence of Zika in the U.S. did not warrant continued
21 universal testing by ID NAT; however, that blood

1 establishment should not stop testing donations for
2 Zika in the U.S. and its territories. There were a
3 number of options. The majority of the committee
4 supported use of minipool NAT with a trigger to ID NAT.

5 Therefore, based on these recommendations, FDA
6 issued a second revision of the guidance in July 2018,
7 stating that all donations collected in the U.S. and
8 its territories must be tested by either minipool NAT
9 or ID NAT or pathogen reduced using an FDA approved PRT
10 device for plasma or apheresis platelets.

11 ID NAT was recommended when certain conditions
12 were met, indicating an increased risk of suspected
13 mosquito-borne transmission in a defined geographic
14 area. This was, if a Zika reactive donation was
15 identified and local transmission was possible, based
16 on the presence of transmitting mosquitoes, the trigger
17 would be immediate.

18 If in prior areas of increased risk -- those
19 in Florida and Texas -- there would be time allowed for
20 an investigation if it was not in those areas. The
21 trigger would also occur if the CDC announced an

1 increased risk in an area based on infections detected
2 outside the blood donor population. In those cases, MP
3 NAT may resume if the reactive donation was not due to
4 local mosquito-borne transmission based on the
5 investigation or if there are no cases in the area in
6 14 days and CDC removes any risk designation.

7 Since cases have continued to decline through
8 2018 and this year, FDA seeks advice from the committee
9 on three proposed testing strategies to be presented
10 today, which you will hear about in more detail later.
11 Number one is no policy change, continue universal
12 testing for Zika by minipool or ID NAT. Number two is
13 regional testing for Zika with minipool or ID NAT with
14 considerations for different regional options. Number
15 three is to eliminate all testing for Zika virus.

16 You will be hearing more from speakers on
17 today's topics from Marc Fischer from the CDC; Srijana
18 Rajbhandary from the AABB; and finally, David Leiby
19 from FDA will present the questions for the committee.
20 Thank you.

21 **DR. KAUFMAN:** All right. Thank you. So, I

1 would next like to introduce Dr. Marc Fischer from CDC
2 who will provide an update on the current status of the
3 Zika virus epidemic.

4 **UPDATE ON THE CURRENT STATUS OF THE ZIKA**
5 **EPIDEMIC**

6 **DR. FISCHER:** All right. Thank you. Good
7 morning. I'm Dr. Marc Fischer from the CDC Arboviral
8 Diseases Branch. We're based in Fort Collins,
9 Colorado. It's a pleasure to be here this morning.

10 I'm going to skip the first two slides, as Dr.
11 Chancey really covered them. The first slide is just a
12 basic overview of Zika virus and its transmission. The
13 second slide reviews the epidemiology that was already
14 discussed as far as its identification and spread from
15 2007 to 2015.

16 I'll start here with Zika virus in the
17 Americas. In 2015, as we already heard, the first
18 locally acquired cases in the Americans were reported
19 in Brazil. And then over the next two years, by the
20 end of 2017, local mosquito-borne transmission had been
21 reported in 48 countries or territories in the

1 Americas. As of today, the only countries in this
2 region without reported local transmission are Bermuda,
3 Canada, Chile, and Uruguay.

4 For the United States from 2007 to 2014,
5 before the introduction into the Americas, there were
6 14 Zika virus disease cases identified all among U.S.
7 travelers. Following the introduction and spread in
8 the U.S. in the Americas, cases among travelers
9 increase substantially. In 2016, there were large
10 outbreaks in the three U.S. territories of Puerto Rico,
11 U.S. Virgin Islands, and American Samoa. And then
12 limited local mosquito-borne transmission was
13 identified in the two states of Florida and Texas.

14 Okay, so this describes Zika virus
15 surveillance activity in the U.S. Zika virus disease
16 and Zika virus infection without disease, including
17 positive blood donors, became a nationally notifiable
18 condition in 2016. Cases and infections are reported
19 to the CDC ArboNET system by all state and territorial
20 health departments. That reporting is done according
21 to standardized case definitions that have clinical

1 epidemiologic and laboratory criteria.

2 There are separate case definitions for
3 congenital and non-congenital infections. Everything
4 I'll present today is all for non-congenital infections
5 and disease cases. When we report cases, we include
6 both confirmed and probable cases in our reports and
7 MMWR and on our web pages.

8 These are the case definitions for Zika virus
9 disease cases, non-congenital. A confirmed case is a
10 clinically compatible illness with laboratory evidence
11 by Zika virus isolated in culture by viral antigen or
12 positive RNA test, or serologically Zika IgM antibody
13 with positive Zika neutralizing antibodies and negative
14 neutralizing antibodies against dengue or,
15 occasionally, other flaviviruses that are endemic to
16 the region.

17 A probable case, as I'll include, is also a
18 clinically compatible illness, but the laboratory
19 evidence is just serologic: either an IgM antibody test
20 with both positive Zika and dengue virus neutralizing
21 antibodies; or Zika IgM with negative dengue virus IgM

1 and no neutralizing antibody testing performed.

2 In ArboNET, we also collect information on
3 what we call presumptive viremic blood donors or
4 viremic blood donors as I'll refer to them today. The
5 definition we use in ArboNET that we asked state health
6 departments to report is an initial reactive ID or
7 minipool NAT result with confirmation by either a
8 repeat Zika virus RNA by the same or an alternative NAT
9 assay on the same or a follow-up sample; Zika IgM
10 antibody with Zika neutralizing antibodies in the same
11 or a follow-up sample; or Zika virus isolated or viral
12 antigen detected in any specimen. So, this is a
13 slightly different definition and is used by some of
14 the blood services agencies as in AABB, and the counts
15 as far as numbers of cases will not always match up
16 exactly.

17 So, I'm going to start now by running through
18 the data numbers of cases for Zika virus disease cases
19 that have been reported to ArboNET from 2016 through
20 2018. So, this slide shows the numbers of both
21 confirmed and probable cases reported to CDC by year

1 over the three-year period. And you see, as we've
2 heard already, a dramatic decrease -- over 99 percent
3 from the peak of 41,680 cases. This is reported for
4 both states and territories. 2016 decreased to 1118
5 cases reported in 2017, and then 220 cases reported in
6 2018.

7 This slide for territories and states show
8 the breakdown between those two. You could see that in
9 2016, 88 percent of the vast majority of the cases were
10 reported from U.S. territories. This includes
11 confirmed and probable cases and, again, excludes
12 congenital disease and includes both travel-
13 associated local acquired cases.

14 In 2017, of the 1,118 cases, now about 60
15 percent of them were reported from U.S. territories and
16 40 percent from states. And then in 2018, it was about
17 two-thirds reported from the territories and 33 percent
18 or 72 cases from the states.

19 When we look at just the cases reported from
20 the territories, you could see that in all three years,
21 99 percent of them were attributed to local

1 mosquito-borne transmission. Obviously, there could be
2 sexually transmitted cases included here; there's no
3 way to separate those out. But basically, those people
4 did not have a travel history. You could see also in
5 the footnote that the vast majority of these cases were
6 reported from Puerto Rico.

7 So, 97 percent of the cases across all three
8 years from the territories were reported from Puerto
9 Rico; 1,034 or 3 percent were reported from the U.S.
10 Virgin Islands; and 131, less than 1 percent, were
11 reported from American Samoa. There were a few travel-
12 associated cases reported from Puerto Rico: 145 in 2016
13 and then one each in 2017 and 2018.

14 This is the same type of breakdown for cases
15 reported from U.S. states, but you see really the flip
16 of what we just saw. That is in the second row, you
17 see the travel-associated cases, which was 95 to 100
18 percent of all the cases reported from U.S. states were
19 travel associated, including all of the cases in 2018.
20 I apologize. The columns are column headers are
21 missing there, but they're same year '16, '17 and '18.

1 There were, as we heard, 231 locally
2 transmitted cases reported across the 3 years: 224
3 cases in 2016 and 7 in 2018. Most of those, 95
4 percent, were reported from Florida and 11 cases or 5
5 percent from Texas.

6 And then the last row are other routes of
7 transmission which include 52 sexually transmitted
8 cases; 2 that were attributed to laboratory
9 transmission; and 1 an unknown route, possible
10 person-to-person transmission. There are no reported
11 or identified cases of transfusion-transmitted
12 transmission in the United States.

13 Focusing just on 2018 to get into an idea of
14 where the activity remains, this shows the 73
15 travel-associated cases reported to ArboNET in 2018: 72
16 from U.S. states and 1 from Puerto Rico. So about
17 three-quarters of the cases, the travelers had traveled
18 within the Americas but outside the United States. The
19 greatest proportion of those were to the Caribbean and
20 most of those were to Cuba; 21 of those 27 were to
21 Cuba. The 15 cases with travel to North America were

1 all to Mexico. And then there were a few cases to
2 several countries in Central and South America. There
3 are 12 cases -- this is a correction from your handout
4 -- 12 cases who had traveled to Asia, parts of the
5 Western Pacific. And then 8 cases that were travel
6 associated, but we didn't have information on the
7 specific country or region that they traveled to.

8 Now this is a busy slide. I'm going to walk
9 you through it. These are the cases reported by state
10 or territory of residents where the cases live,
11 combining again both states and territories across the
12 three years. And I think this is relevant to the
13 discussion today.

14 So, each column shows the number of cases
15 reported in that year. And I've chosen the sort of top
16 seven jurisdictions that reported the most-number of
17 cases in decreasing order. So, if you see in 2016,
18 there were 41,680 cases reported overall to ArboNET: 85
19 percent of those were reported from Puerto Rico; and
20 then 1,107 from Florida, which is 3 percent; 1,002 from
21 New York; and so on down the line. As you move down to

1 New Jersey, there are then additional states and
2 territories that reported additional cases, but they're
3 all less than one percent of the total cases. If you
4 add up these 7 jurisdictions, which are being
5 considered for today's recommendations, they account
6 for 95 percent of all the cases reported in 2016.

7 If you move over to 2017, it shows the same
8 data for the 1,118 cases. Now, as the outbreak waned
9 in Puerto Rico, you see it accounts for a much smaller
10 proportion of the cases -- a little over half, 56
11 percent of all the cases -- and Florida accounted for
12 10 percent of the cases. This is both a combination of
13 travel-associated and local transmission. And then you
14 see the remaining jurisdictions down to New Jersey with
15 one percent. If you add up those 7 jurisdictions --
16 the 2 territories and 5 states -- listed in 2017, they
17 accounted for 85 percent of all the cases reported to
18 ArboNET.

19 And then in 2018, of the 220 cases, you see a
20 similar breakdown; Puerto Rico now accounted for
21 two-thirds of the cases. You can see now California,

1 which is listed the fifth state down, accounted for 12
2 percent of the cases. So, there's a moving of the
3 travel-associated risk, but these 7 jurisdictions
4 combined still accounted for 92 percent of all the
5 cases reported.

6 Then in the last column, I just show the
7 population to give a sense of how much of the total
8 U.S. population you'd be accounting for in these 7
9 jurisdictions, about 119 million, which is about a
10 third of the total U.S. population considering these 7
11 jurisdictions.

12 I'm going to move on and do a similar run
13 through for blood donor, viremic blood donor, data
14 reported to ArboNET. So, the first slide shows the
15 number of viremic blood donors reported to ArboNET: 363
16 in 2016. Again, you see a 99 percent decline over the
17 next two years with 38 reported in 2017 and just 3
18 cases or 3 viremic blood donors reported to CDC in
19 2018.

20 This shows the breakdown between U.S. states
21 and territories. So, in 2016, 90 percent of those

1 positive blood donors were reported from the
2 territories, all from Puerto Rico; and 38, or 10
3 percent, were reported from various U.S. states. In
4 2017, there's sort of a flip of the viremic blood
5 donors; and of the 38 cases, 32 or 84 percent were
6 reported from U.S. states.

7 I will say that probably about half of those
8 32 cases are not actually confirmed, and were initial
9 reactive NAT positive or NAT reactive but then were not
10 confirmed but were by error remain within ArboNET and
11 that will come in as an important distinction when we
12 get to the by jurisdiction reporting that I'll go
13 through. And then in 2018, there were only three
14 viremic blood donors reported, all from U.S. states; no
15 reactive donations reported from Puerto Rico or
16 territories.

17 This is again the breakdown just for 2018 of
18 just the three travel-associated cases,
19 travel-associated viremic blood donors. One had
20 traveled to the Caribbean again to Cuba, and two had
21 traveled to Mexico.

1 This is the same slide that breaks down by
2 jurisdiction the report to the viremic blood donors.
3 So, in 2016, of the 363 positive or reactive blood
4 donors, 325 or 90 percent were from Puerto Rico; 23 or
5 6 percent were from Florida; and after that, there's 1
6 percent or less from the remaining jurisdictions that
7 I'm showing on the slide. And overall, that accounted
8 for 358 of the 363 positive blood donors.

9 In 2017, again, there are 38 reactive blood
10 donors reported to ArboNET and these 7 jurisdictions
11 only account for 18, or less than half or about half,
12 of those. However, of those 38, about half of those,
13 as I said, appear to be an initial reactive positive
14 that were not confirmed. And those cases were all from
15 states other than are listed here. If you remove those
16 17 cases, in the end, these 7 jurisdictions are really
17 5 since New Jersey and U.S. Virgin Islands have zero
18 reactive blood donors. These five jurisdictions would
19 account for about 85 percent of the donors once we
20 remove those cases that we don't believe are true
21 confirmed viremic blood donors.

1 Then, in 2018, there are three viremic blood
2 donors; two were reported from Florida. The third was
3 reported from Minnesota and so is not listed on this
4 table.

5 I'm going to move on now to broaden out the
6 epidemiology and discuss the Americas at large, what
7 has happened with the outbreak. So, this slide shows
8 now what we call suspected and confirmed cases reported
9 from countries in the Americas to PAHO. In this case,
10 suspected would be a clinically compatible illness.
11 And that definition may differ by country, with no
12 laboratory confirmation performed, or they may even
13 have negative laboratory testing but are still included
14 in the numbers.

15 Confirmed has various types of laboratory
16 evidence which would also differ by country. So, using
17 that definition lumping everything together -- and I'll
18 show the breakdown by suspected and confirmed on the
19 next slide -- there were over 651,000 suspected and
20 confirmed cases reported in the region in 2016 to PAHO.
21 In 2017 there were 55,328. And by 2018 just over

1 28,000 or just under 29,000 cases. So, you see a sort
2 of mirror of the same type of decline in cases from the
3 region.

4 This shows a breakdown of those cases by year,
5 by confirmed or suspected status, to give you a sense
6 of how many of these have some laboratory evidence.
7 So, you could see in 2016 and 2017, of all the cases
8 reported, about a third -- 30 to 36 percent -- had some
9 type of laboratory confirmation or were called
10 laboratory confirmed.

11 There are some countries that will actually
12 call a case confirmed without laboratory evidence if
13 the patient has clinical findings consistent with Zika
14 and has an epidemiologic link to another confirmed
15 case. So, there are some cases, even in the confirmed
16 category, that don't necessarily have positive
17 laboratory testing.

18 Moving over to 2018, you'll see there's been a
19 significant shift -- less surveillance, less testing
20 performed. And of the 28,700 cases reported to PAHO,
21 only 12 percent were reported as confirmed or had

1 laboratory testing performed.

2 Looking at a breakdown by sub-region within
3 the Americas, I've broken Brazil out of South America
4 just so you get a sense, because they by far have the
5 greatest number of cases. And then the rest of the
6 regions are South America, Central America, Caribbean,
7 and North America. In North America, I've included
8 Canada, the U.S., and Mexico. If you look at the web
9 page that I have linked here, PAHO separates Mexico out
10 from North America. So, you would need to add it back
11 in to get the same numbers here.

12 So, in 2016, of the 651,000 cases, just under
13 a half of them were just reported from Brazil alone.
14 Another 160,000 from the remainder of South America,
15 excluding Brazil, and 152,000 from the Caribbean.

16 In 2017, there's a similar breakdown. Brazil
17 accounting for 31,700 of the 55,000 cases, followed now
18 by the remainder of South America. And then the virus
19 moved further north than they were more cases in
20 Central America than the Caribbean.

21 And finally, in 2018, of the 28,700 cases

1 reported, 19,000 of those -- so still two-thirds of
2 them -- were reported from Brazil; 14 percent from the
3 remainder of South America; 13 percent from Central
4 America; 4 percent from the Caribbean; and importantly,
5 860 or 3 percent from North America, really all of
6 those being predominantly from Mexico. And I'll talk a
7 little bit more about those.

8 This just shows you by region the differences
9 in the testing patterns. So, of the 19,000 cases
10 reported from Brazil, only 1400 or 7 percent of them
11 are laboratory confirmed. So, these cases could be
12 other things; dengue, even chikungunya, or other
13 non-arboviral diseases. The remainder of South America
14 did a little better with testing; about a third of
15 those cases are confirmed.

16 And then in North America, Mexico, all of the
17 cases, all 860 that are reported are laboratory
18 confirmed. So overall for the region, 12 percent of
19 all the cases that are reported in 2018 were confirmed.

20 And then finally for the region, this shows
21 the breakdown of suspected and confirmed cases by

1 country in decreasing order of incidents. So again, at
2 the top of the list is Brazil with a total of 19,020
3 cases. Of those, 1379 were confirmed or 7 percent, and
4 Brazil has a population of over 210 million. Then you
5 could see the other countries that account for the
6 remainder of the 28,000 or so cases reported in 2018
7 and the proportion of them in the second column that
8 are confirmed.

9 So only 5 percent of the cases reported from
10 Guatemala were confirmed but a third of the cases
11 reported from Bolivia; all of the cases from Mexico;
12 and 71 percent of the cases from Columbia. And then
13 you can see the fifth row down, Cuba had 873 cases,
14 although they don't report any data on laboratory
15 evidence. But as I mentioned, that's where most of the
16 travel-associated cases to the Caribbean have now been
17 reported from in 2018.

18 This shows the epi curve by week, just to
19 demonstrate that most of the cases reported in 2018
20 from the Americas were reported in the first half of
21 the year, or at least there was a declining incidence

1 over the year by week.

2 There are a couple important exceptions, and
3 Mexico is the one that I want to touch on. So, in
4 2018, as I mentioned, there are 860 all laboratory-
5 confirmed cases were reported from Mexico. The map
6 shows the states in Mexico that are depicted on the
7 table. And you could see most of these cases are being
8 reported or were reported from the north and north and
9 west part of the state, which is a different area than
10 Mexico had cases in previously.

11 And Sonora, Sinaloa, and Baja California,
12 which are those three northwestern most states,
13 including Sonora, which borders Arizona, about half of
14 all those cases that were reported from those states
15 were reported just in November and December of 2018.
16 So, they had cases really later in the year and fairly
17 recently, just as recent as three or four months ago.

18 Finally, I'm going to end with just a quick
19 discussion about the outbreak in India that occurred
20 last year. So historically, during the initial
21 outbreak in 2016 that occurred in the Americas, there

1 were a small number of cases that were reported by the
2 Indian Ministry of Health. There were five cases
3 reported from Gujarat state between 2016 and just one
4 case reported from Tamil Nadu state.

5 In addition, there's a study which is cited at
6 the bottom that did some retrospective testing for Zika
7 from specimens that were submitted for testing for
8 other etiologies. And they identified retrospectively
9 one additional case of Zika virus from Rajasthan state
10 that occurred in 2016.

11 However, then in 2018, in Rajasthan state
12 shown on the map on your right, which borders Pakistan
13 up in the northwest part of India. They reported a
14 case with onset in September of 2018, subsequently did
15 some very active surveillance to identify cases, and
16 identified a total of 159 cases: all with some
17 laboratory evidence of infection, most PCR confirmed
18 between September and October in 2018. The focus of
19 the outbreak was in Jaipur, the capital city of
20 Rajasthan state, which is shown on the map.

21 They then subsequently identified additional

1 cases in Madhya Pradesh, which is the state just to the
2 southeast, shown in sort of a light green on the map.
3 And overall, they identified about 130 cases from that
4 state that were reported mostly in November of 2018.

5 Since that time, the ministry of health has
6 reported that both outbreaks have subsided. They've
7 stopped reporting new cases; although, it's sort of
8 difficult to get additional information that's publicly
9 available. This is all through reports, some press
10 releases, or direct communications with the ministry of
11 health.

12 So, in summary, large outbreaks of Zika virus
13 peaked in 2016 and then substantially decreased in
14 2017. There had been lower levels of transmission that
15 have continued in focal areas in some countries in
16 2018, of some importance includes Mexico and bordering
17 areas of the southwestern United States. The U.S.
18 territories have markedly decreased incidents in 2017
19 and '18. Although, sporadic infections have still been
20 reported.

21 I will say most of the cases that are still

1 being reported from Puerto Rico are all only
2 serologically confirmed. And it's possible those
3 represent previous infections with persistence of IgM
4 or cross-reactivity due to dengue or other
5 flaviviruses. We've only had one PCR confirmed case
6 from Puerto Rico in 2018 and two PCR confirmed cases
7 from U.S.V.I. in 2018, and both of those occurred in
8 January. So, there's really been very little evidence
9 of circulating virus in Puerto Rico or U.S. Virgin
10 Islands in 2018, and none thus far in 2019.

11 And then the incidence in disease risk among
12 U.S. travelers has basically followed the epidemiology
13 of the outbreaks in the Americas with marked declines
14 in travel-associated cases after 2016, very few in
15 2018, and only that limited local transmission that we
16 discussed in Florida and Texas in 2016 and '17. And I
17 think I will stop there. Thank you very much.

18 **DR. KAUFMAN:** All right. Well, thanks very
19 much. So, I'd like to ask the committee if there are
20 any questions for the previous two speakers actually.

21 **DR. SCHREIBER:** Marty Schreiber from Portland.

1 Do you have any information on reported morbidity
2 associated with transfusions that were given to
3 patients?

4 **DR. FISCHER:** In the U.S.?

5 **DR. SCHREIBER:** Anywhere, any association with
6 transfusions received and Zika contracted, and what the
7 morbidity was associated with that.

8 **DR. FISCHER:** So, in the U.S., there have been
9 no identified transfusion-transmitted cases, so no
10 morbidity, no infections. There have been the cases
11 that were referred to in the previous talk that have
12 been published from Brazil with, as far as I know, no
13 significant morbidity reported associated with those.

14 **DR. SCHREIBER:** So, in patients who aren't --
15 so my understanding is that the pregnant patients are
16 the ones -- that the fetus is at greatest risk. Is
17 that correct?

18 **DR. FISCHER:** Right. So, the risk -- clearly
19 the greatest risk of Zika virus is in congenital
20 infection and the congenital microcephaly and other
21 birth defects that can result from it. So, the

1 greatest risk would be to infecting a pregnant woman
2 and having the risk of congenital infection and those
3 outcomes.

4 There is a risk of Guillain-Barré syndrome, as
5 well, in people without any comorbidities; although the
6 rate overall is relatively low, but it is certainly
7 associated.

8 There have been a number of reports of not
9 transfusion-associated disease, but there were reports
10 of Zika virus infection, wild type infection, through
11 mosquito. In immunocompromised populations, it's a
12 fairly limited case series, some HIV infected, some
13 transplant recipients. And there does not seem to be
14 increased morbidity in those populations with wild type
15 Zika virus, although it's a very limited number of
16 reports.

17 **DR. HOLLINGER:** Ah, yes. Thank you for the
18 information. We have to, of course, understand that
19 testing was being done at the time and no transfusions
20 could have been due to the testing. You mentioned
21 there were three viremic cases in the U.S. states and

1 territories in 2018.

2 **DR. FISCHER:** Right.

3 **DR. HOLLINGER:** Did they have antibody? Did
4 they have IgM antibodies, neutralizing antibodies, that
5 fell into where these window period viremic cases?

6 **DR. FISCHER:** So, I don't have the specific
7 data on the three cases; but in order to meet the case
8 definition, if they were reported, according to it --
9 I'd have to look up those three specific cases. They
10 would need to have positive NAT, NPR ID NAT, depending
11 on when they were identified, and then have some type
12 of confirmatory testing which would be a repeat NAT
13 and/or serologic testing that was performed.

14 So, in all likelihood, those three cases did
15 have some confirmatory neutralizing antibody testing or
16 a second NAT, but I don't know off the top of my head
17 for those three.

18 **DR. HOLLINGER:** But the important thing would
19 be whether they had an antibody also?

20 **DR. FISCHER:** Correct. I mean, they --

21 **DR. HOLLINGER:** Okay.

1 **DR. KAUFMAN:** So, I was wondering if you could
2 comment on what sort of the predictions or expectations
3 are for India? And are there other parts of the world
4 where there's not been an obvious clinical outbreak
5 where the mosquito's present and there's concern for a
6 future outbreak?

7 **DR. FISCHER:** Sure, I mean the presumption is
8 that Zika virus is transmitted throughout most of the
9 same areas of the world that dengue is transmitted. It
10 has been identified in most of those areas. Many other
11 countries in Southeast Asia have identified cases or
12 even outbreaks: Cambodia, Vietnam, etc. in Southeast
13 Asia and other parts of Asia.

14 Africa, also, we know the virus is transmitted
15 and circulates. It's unclear whether it's the same, so
16 there are different genotypes of Zika virus. There has
17 been some data reported that different genotypes may
18 have different risks for the congenital disease
19 outcome.

20 And because of lack of really surveillance and
21 testing, we don't know how frequent the transmission

1 is. We don't know if transmission may occur, where it
2 occurs more in childhood, it's more frequent. And then
3 so people of childbearing age are not susceptible or at
4 risk for infection.

5 But the presumption is that Zika virus
6 circulates throughout the tropics and subtropics and
7 all the same places you would see dengue. And going
8 forward, we will probably continue to see sporadic
9 infections, endemic disease reported with occasional
10 outbreaks.

11 As far as what's currently in India, I
12 certainly would expect to see more outbreaks and
13 disease in India. But currently, the outbreak that was
14 reported in '18, again, appears to be over.

15 **DR. KAUFMAN:** Dr. DeMaria.

16 **DR. DE MARIA:** Considering what is known about
17 what has been seen in the United States, is there an
18 estimate of what the overlap is with malaria and
19 deferral of donation on the basis of malaria and
20 eliminating people who may have been exposed to Zika?

21 **DR. FISCHER:** That's a very good question. I

1 don't think I can answer that question. I don't know
2 Sri or maybe -- can you answer that question? I don't
3 -- so I can't answer that question. I think there must
4 be overlap certainly with regard to travel
5 relationships, but somebody else can probably answer
6 that question better than me.

7 **DR. EDER:** Anne Eder, Office of Blood at CBER.
8 So, generally, those deferral areas do not overlap.
9 There is some overlap, but in general, they were in
10 addition to in the Americas when the Americas were a
11 deferral.

12 **DR. FISCHER:** Thank you.

13 **DR. KAUFMAN:** Dr. Basavaraju.

14 **DR. BASAVARAJU:** So, I had a question about
15 the numbers that you showed from PAHO for 2018 and the
16 fact that there's less testing. So, is the fact that
17 there's fewer cases that are being reported just an
18 effect of less testing, or do you think there's
19 actually fewer cases?

20 **DR. FISCHER:** I think there's actually fewer
21 cases. I mean, all of the evidence certainly points

1 that there's a dramatic decrease in disease and
2 infections identified, including in 2017 when the
3 amount of testing was the same. But I do think there
4 probably is decreased testing and decreased
5 surveillance as well. But I don't think that accounts
6 for the entire pattern. And I think that's why you see
7 similar patterns across the region in the U.S., when
8 you look at blood donors, when you look at disease
9 cases, some of which are independent of surveillance
10 effort and testing efforts like screening of blood
11 donors. You see all the same things. So, while I
12 think there is some change in surveillance activity, I
13 think we've seen a true decline in disease and
14 infections from 2018 to '16.

15 **DR. BASAVARAJU:** So, if there was a resurgence
16 of the epidemic in parts of the Americas, how quickly
17 do you think that would be recognized?

18 **DR. FISCHER:** That's a good question. I mean,
19 dengue is circulating right now in the Caribbean.
20 There have been outbreaks in Jamaica and some other
21 islands. We're expecting that there will be activity

1 in Puerto Rico. It's been remarkably quiet for several
2 years with outbreaks in Puerto Rico. So, I think there
3 will be some time period where things may be thought of
4 or considered to be dengue before Zika is recognized.

5 However, there has been a shift in the sense
6 that a triplex assay, molecular assays are much more
7 available now. And many more countries have the
8 availability and capacity to do that testing. The
9 triplex assay, which most countries are using, test
10 simultaneously for Zika, dengue, and chikungunya.

11 So, at least when patients present acutely
12 within the first week or so of illness, there will be a
13 recognition of the disease even if they're considering
14 it to be dengue based on the clinical presentation.
15 So, I think we will recognize it when it occurs, but I
16 can't tell you how long that will take and how large
17 the outbreak would need to be for us to know that it's
18 occurring.

19 **DR. KINDZELSKI:** Ah, yes. Andrei Kindzelski.
20 Do you have data from the European Union, for example,
21 on the incidents and potential decline in the numbers

1 of Zika probably related to traveling infection there;
2 and also what kind of approach the European Union is
3 using to test for Zika in relationship to blood
4 donation?

5 **DR. FISCHER:** A good question. I'm sorry, I
6 can't completely answer it. So, the European CDC posts
7 similar information, usually in periodic reports, as
8 I've shown there for PAHO and for the United States.

9 All the cases reported in Europe have been
10 travel associated. There was no local transmission, as
11 far as I'm aware, recognized at any point in Europe
12 itself. They were in territories of European
13 countries. And they also have seen a dramatic decline,
14 but I don't know the exact numbers over this period of
15 time in travel-associated cases. I do not know what
16 their approach is to blood donor screening. I'm sorry.

17 **DR. KAUFMAN:** Please, go ahead. Dr.
18 Schreiber, please.

19 **DR. SCHREIBER:** Sorry. Second question. I
20 know in the case of dengue fever the initial exposure
21 can result in a febrile illness with a rash, but a

1 second exposure could be fatal in a patient that's been
2 pre-exposed. Is the same true in Zika?

3 **DR. FISCHER:** No, as far as we know. I mean,
4 there's been a fair bit of work. I mean, for Zika
5 there's only one serotype unlike dengue, so even though
6 there are multiple genotypes, there's only a single
7 virus serotype. So, when you are infected, you're
8 believed to be protected long term perhaps for life
9 from that virus. And we believe there's cross-
10 protection across the genotype. So, even if you're
11 infected with the Asian genotype, you're probably
12 protected against African.

13 For dengue, obviously, there are four actual
14 distinct virus serotypes, and secondary infection can
15 cause antibody-enhanced worsening of the disease.
16 There has been speculation as to whether that can occur
17 across flaviviruses; so, whether previous flavivirus
18 infection, dengue, can worsen Zika or vice versa.
19 There have been a number of in vitro models that have
20 suggested it could. Although, in almost any in vitro
21 model, you can demonstrate that.

1 In non-human primate models and other modeling
2 efforts, so far there has been no evidence that there's
3 any increased severity of disease with a Zika virus
4 infection that if previously a person had dengue or
5 vice versa. Although, there have been very few
6 situations to date where you've seen thus far dengue
7 following Zika.

8 There was an outbreak in American Samoa that
9 occurred. That's the largest. And if we see it in the
10 Caribbean, now dengue, this will be the first time
11 we'll have extensive experience with dengue after Zika.
12 But so far, there does not appear to be any worsening
13 of the disease in a secondary flavivirus infection with
14 Zika.

15 **DR. KAUFMAN:** Dr. Hollinger.

16 **DR. HOLLINGER:** I just want to go back just a
17 minute to the India thing, since there's so much travel
18 between the U.S. and India. Do you know what lineage
19 the virus has been in Rajasthan and so on?

20 The African virus has not been associated with
21 many outbreaks. And it was really only until it

1 changed a little bit to the Asian, supposedly to the
2 Asian, lineage that there was this huge global
3 outbreak. So, do you know what the Indian virus is?

4 **DR. FISCHER:** I believe it's the Asian strain,
5 but I'm not sure.

6 **DR. HOLLINGER:** Okay.

7 **DR. FISCHER:** You're correct that there's been
8 speculation that, again, the congenital disease is
9 primarily linked to or associated with the Asian virus.
10 There's been at least one study that suggests that
11 they've found where that link is, but I'm not sure
12 that's completely established at this point, whether
13 there's a true difference and that the congenital
14 effects have been associated just with the Asian virus.

15 But so far, the outbreak in the Americas was
16 due to that. And that's when the congenital disease
17 was first recognized. Same thing in French Polynesia
18 where Guillain-Barré syndrome and then congenital
19 microcephaly was first identified. That was associated
20 with the Asian strain as well.

21 **DR. KAUFMAN:** For regions where there have

1 been really big outbreaks -- Polynesia, Brazil -- do
2 you have a sense of what proportion of the general
3 population has serologic immunity?

4 **DR. FISCHER:** Yeah, that's a difficult to
5 assess because of the cross-reactivity of flaviviruses
6 and routine serologic testing with most assays, IgG, or
7 even neutralization testing. There's a significant
8 cross-reactivity.

9 But based on blood donor surveillance data and
10 some other studies that have been done, there's an
11 estimate that about 30 percent of the population, at
12 least in Puerto Rico, was probably infected and would
13 now be immune.

14 In Brazil, I think it's spottier because the
15 outbreak was much more focal in areas. The northeast
16 part of the country had much higher incidence of
17 disease. There was much less than the west. And then
18 the virus spread in the second year down more to the
19 southeast. So, I think it would depend on what part of
20 the country you're talking about. But there was
21 probably a similar type of sera prevalence numbers in

1 certainly the northeast, maybe higher in Brazil.

2 So, I think 30 percent would be a good
3 estimate, but it's going to vary very much based on the
4 area and place you're talking about.

5 **DR. CHITLUR:** Dr. Kaufman?

6 **DR. KAUFMAN:** Yes, Dr. Chitlur.

7 **DR. CHITLUR:** Thank you, Dr. Kaufman. I'm
8 sorry. I didn't introduce myself earlier. I'm Meera
9 Chitlur. I'm a pediatric hematologist at Wayne State
10 University and Children's Hospital in Michigan. I'm a
11 special government employee for the FDA on this
12 committee. Thank you for having me today.

13 My question was are the clinical
14 manifestations of Zika virus infection different in
15 immunocompromised individuals compared to otherwise
16 immune competent people? Is there any different risk
17 for this population?

18 **DR. FISCHER:** Thank you. So, as I mentioned,
19 there's a limited amount of data on immunocompromised
20 patients. It mostly comes from Brazil. And based on
21 the published data, in limited numbers of patients,

1 there does not appear to be a significant difference or
2 increased severity in immunocompromised patients
3 infected with Zika virus compared to
4 non-immunocompromised patients.

5 **DR. KAUFMAN:** Dr. Shapiro.

6 **DR. SHAPIRO:** Along the same lines, is there
7 information from Brazil about infection in patients
8 with hemoglobinopathies, such a sickle cell in terms of
9 any other sequelae besides the acute infection?

10 **DR. FISCHER:** Not that I'm aware of. I
11 believe there was one of the first case reports of
12 severe disease. I think it was from Brazil. It was in
13 a patient, I believe, with sickle cell and had a severe
14 outcome, had kind of like a chest type of syndrome as
15 you may see with other infections. But that's the only
16 report that I can remember. I'm not aware of any case
17 series or studies done or other data reported in that
18 population that I can think of.

19 **DR. KAUFMAN:** Okay. So, if there are no other
20 questions from the committee. Thank you very much.

21 I'd like to introduce our next speaker Ms.

1 Srijana Rajbhandary from AABB.

2 **AABB ZIKV BIOVIGILANCE NETWORK**

3 **DR. RAJBHANDARY:** Good morning, everyone. And
4 I would like to thank FDA for this opportunity. I'm
5 Srijana Rajbhandary from AABB and I'll be speaking on
6 the AABB Zika Virus Biovigilance Network.

7 I have no conflicts of interest to disclose.
8 And before I go ahead with my formal presentation, I'd
9 like to talk briefly about what AABB is. AABB is an
10 international nonprofit association representing
11 individuals and institutions involved in the field of
12 transfusion medicine and cellular therapies.

13 AABB membership includes physicians, nurses,
14 scientists, researchers, administrators, medical
15 technologists, and other health providers. AABB
16 membership is located in more than 80 countries and we
17 also accredited institutions in over 50 countries.

18 Moving ahead with the objectives of my
19 presentation, as requested by FDA, I'll be providing an
20 overview of the AABB Zika Virus Biovigilance Network
21 and also present data that has been voluntarily

1 reported to the AABB Zika Virus Biovigilance Network.

2 Going ahead with the program overview, this is
3 the landing page of our platform which is available
4 publicly and can be found under the tab of Research
5 AABB Hemovigilance. We provide a publicly available
6 map which maps the reactive/not reactive donations
7 reported to our platform. And we also have the
8 password protected site for collection of data, as well
9 as reports to our users.

10 The AABB's Zika Virus Biovigilance Program is
11 a collaboration between AABB and U.S. blood collection
12 establishments. It was initiated in 2016 in response
13 to the FDA's first guidance on Zika virus released in
14 February 2016.

15 It was modeled after AABB's previous work in
16 West Nile Virus Biovigilance Network. The current
17 platform contains collection and reporting of data for
18 donation with reactive Zika virus NAT results and also
19 maps these data to U.S. geographical locations. We
20 deployed the enhanced Zika reporting platform in
21 December 2018 to support the revised guidance issued by

1 the FDA in July 2018.

2 Moving ahead with the program timeline, soon
3 after FDA released the February 2016 FDA guidance, AABB
4 worked together with its transfusion-transmitted
5 disease committee, the AABB TTD Committee, to come up
6 with the AABB's Zika Virus Biovigilance Network
7 platform in August 2016. The first reported case,
8 which happens to be a confirmed positive, was reported
9 with the donation date of August 16, 2016.

10 After FDA released the revised guidance in
11 July 2018, the core team of AABB again came together
12 with the AABB TTD Committee to deploy the enhanced
13 platform to include recommendations that were put into
14 the revised FDA guidance and allow minipooled reactive
15 donations resolved through ID NAT. In the screenshot
16 below, I show the collection form for version one,
17 which lasted from August 2016 to December 2018 and
18 which was focused on the IND tests at that time. And
19 we have a current version. For more information,
20 please visit our site.

21 I'll go through the process of reporting and

1 how we map and how we give alerts to our subscribers.
2 When a reactive ID NAT from a donor is reported by a
3 blood center, it is reported to our platform within 24
4 hours. And with the initial record into our system,
5 our map and the report get automatically updated. We
6 sent a first alert email to our email subscribers.
7 Blood centers and testing labs are requested to do
8 further investigation on the exposure status and a
9 confirmatory test within 24 hours. And they can go
10 back to our platform to update their record.

11 Once a record is updated with exposure status
12 or/and confirmatory test result, the map gets auto
13 updated and so does the report; but the second updated
14 email alert goes out to our subscribers only when our
15 exposure status is updated.

16 Moving ahead with the summary data, in this
17 graph I represent the initial reactive donation in blue
18 line, and confirmed positives that had been reported to
19 our platform since its initiation in red line. Of note
20 is the fact that we analyze the level of participation
21 during the IND phase, and it was established that

1 around 84 percent of confirmed positives reported to
2 the NAT developers were also reported to AABB.

3 We can see that the initial reactive donation
4 had been reported to our platform through 2016 --
5 August 2016 throughout 2018 -- with peaks in fall
6 months. However, the confirmed positives dropped down
7 significantly after 2017 with the last confirmed
8 positive being reported in the month of March 2018.
9 And the last reported initial reactive to our platform
10 was in February 2019. We can also see the margin of
11 difference between the initial reactive and confirmed
12 positive as apparent in this graph.

13 Here I show the confirmed positive cases by
14 date of collection and the last confirmed positive that
15 was reported to our platform was on March 16, 2018.
16 There were 27 confirmed positives reported in 2016,
17 from August 2016 to December 2016. Seventeen confirmed
18 positive in 2017 and only two confirmed positive in
19 2018.

20 Moving ahead with the positive predictive
21 value, the positive predictive value as reported to our

1 platform and taking data from 2017 to 2018 comes up to
2 be 2.36 with 19 confirmed positive for the total of 805
3 initial reactive ZIKV NAT results.

4 When we look at the ZIKV confirmed positives
5 by state, we find that the maximum number of cases were
6 reported from donations made in the state of Florida,
7 followed by the state of California, the state of
8 Texas, and the state of New York.

9 Going ahead with the exposure status among
10 confirmed positives, we find that around 63 percent,
11 which is 29 confirmed positives, were reported to have
12 no alternate exposure through travel or sexual contact,
13 followed by 14 such cases with a significant travel
14 history, 2 cases with current sexual contact history,
15 and 1 case with dual exposure of significant travel and
16 sexual contact.

17 Here I present the locations that were
18 reported by donors with significant travel history and
19 those who had confirmed positives. We find that the
20 highest number of cases were reported from travelers
21 who went to Mexico. The last confirmed positive being

1 associated with travel was with a donation date of
2 December 28, 2017, with the travel history to Mexico.

3 Other epidemic areas that were reported by
4 these confirmed positive cases are mentioned in the
5 slide at the bottom.

6 When we go and look at the cases confirmed
7 positive that had no alternate exposure by travel or
8 sexual exposure, we find that the highest number of
9 cases -- around 19 -- 19 cases were reported from a
10 donation in the state of Florida. The last confirmed
11 positive being on March 16, 2018, from a donation in
12 Miami, Florida. And the second following Florida is
13 the state of California.

14 Here I would like to mention the fact that
15 some of our blood centers and testing labs reported
16 back to us saying that the donors were not available
17 for further investigation, and therefore, they were put
18 under the "no alternate exposure" category.

19 In summary, AABB Zika Virus Biovigilance
20 Network has been utilized by blood centers and testing
21 labs to voluntarily report initial NAT as well as

1 confirmed positives for Zika. There have been 880
2 initial reactive donations with 46 confirmed positives.
3 I would like to mention the fact that -- I forgot to
4 mention earlier that currently there are 896 initial
5 reactivities that are reported to our platform. However,
6 in preparation of this presentation, I determined that
7 16 of those cases were reported by the blood center as
8 well as testing labs and were deemed duplicates. So,
9 they were removed for the purposes of analysis for this
10 presentation.

11 The positive predictive value of NAT tests is
12 2.36, taking the data years 2017 and 2018. The last
13 reactive donation reported to the platform was on
14 February 4, 2019. The last confirmed positive reported
15 to the platform was on March 16, 2018. And the last
16 confirmed positive with exposure due to travel was
17 associated with travel to Mexico in December 2017. The
18 last confirmed positive reported in March 2018 was in
19 Florida with no exposure to travel and sexual contact.

20 I'd like to thank AABB Transfusion Transmitted
21 Disease Committee and especially Dr. Sue Stramer, Dr.

1 Lou Katz, and Dr. Steven Kleinman for helping me to
2 prepare these slides. And my special thanks go to my
3 AABB colleagues Jackie Thomas and Sharon Carayiannis.
4 Thank you.

5 **DR. KAUFMAN:** All right, thank you very much.
6 Actually, if you wouldn't mind staying there, I'd like
7 to ask if the committee has any questions for this
8 speaker and then we'll plan on taking a 15-minute break
9 after that. Any questions?

10 I actually have one. Can you comment on what
11 approximate fraction of donor centers report into your
12 system? And sort of what number or proportion of U.S.
13 donations would be covered by the system?

14 **DR. RAJBHANDARY:** We actually have 22
15 independent blood centers reporting and one big
16 centralized testing lab reporting to our platform.
17 AABB's membership, we estimate that it is spread almost
18 hundred percent to the blood centers in the U.S.

19 And going back to the data for blood centers,
20 when I was preparing this presentation, I found that
21 the centralized testing lab was, in fact, reporting for

1 almost 22 other blood centers. So more than 50 blood
2 centers are reported, and we believe almost 90 percent
3 of the blood donations in the U.S. are covered by our
4 platform.

5 **DR. KAUFMAN:** Dr. Hollinger?

6 **DR. HOLLINGER:** Can you tell us what the lag
7 time is or has been on the biovigilance? After it's
8 reported to the AABB within the 24 hours, how long does
9 it take then to get the investigation and then the
10 report back for the confirmatory test results?

11 **DR. RAJBHANDARY:** We recommend 24 hours, but
12 the nice thing about our platform is reporting
13 organizations can go back to their report anytime and
14 update their record. I have seen around two days of
15 turnover for reporting the exposure status. But for
16 confirmatory, I have not tracked down, but I can
17 definitely look into that.

18 **DR. HOLLINGER:** I'm sorry. So, once it's
19 reported in 24 hours, then how long does it take? What
20 is the lag time? With the reporting in the past, there
21 must be some information regarding how long it takes to

1 get it back to the confirmatory tests and everything.

2 **DR. RAJBHANDARY:** We don't collect that data,
3 but we recommend coming back within 24 hours. But in
4 the new platform, we have made the confirmatory test an
5 optional section. But we don't really track how long
6 the blood center takes to report the confirmatory
7 results.

8 **DR. KAUFMAN:** Any questions from Dr. Stramer
9 or Chitlur?

10 **DR. CHITLUR:** No.

11 **DR. STRAMER:** I can just add some information
12 to the questions that Blaine has. Confirmatory testing
13 is variable depending on the testing laboratory that's
14 used. But AABB does encourage all blood centers to
15 confirm once a reactive entry has been made. So those
16 data should be available. Again, it's highly variable.
17 With the initial investigation, it's supposed to be
18 completed within 24 hours for the purposes of
19 understanding when we should trigger or not.

20 **DR. KAUFMAN:** All right. Thank you. Sorry.
21 Dr. Lewis.

1 **DR. LEWIS:** So, this is a general question
2 about epidemiologic surveillance. I'm actually not
3 sure which speaker it should be directed towards. So,
4 my question is unrelated to blood donations or blood
5 banking, what is our infrastructure with respect to
6 epidemiologic surveillance in the population in
7 general? Are there any systems in place to detect
8 asymptomatic sera conversions or infections in the
9 population in general? And this would apply to U.S.
10 states and territories.

11 **DR. KAUFMAN:** Dr. Fischer.

12 **DR. FISCHER:** Mark Fischer from CDC. So, both
13 disease cases, symptomatic disease cases and
14 symptomatic infections related to blood donation or
15 otherwise, are nationally notifiable conditions. So,
16 the Council of State and Territorial Epidemiologists
17 along with CDC made those nationally notifiable
18 conditions in June of 2016.

19 So, they are reportable conditions.
20 Laboratories with positives are required to report them
21 to health departments, and all state and territorial

1 health departments report cases that they're aware of
2 to CDC, but it is a passive reporting system. There's
3 not active surveillance that's identifying these cases.

4 **DR. LEWIS:** So, just to clarify, the question
5 was for, I guess, serologic surveillance unrelated to
6 blood donation. For example, I can picture a system,
7 perhaps just in my imagination, in which random samples
8 of blood that are used for routine clinical care --
9 blood glucose measurements, hemoglobin, A1C
10 measurements in diabetics, and at public clinics -- are
11 tested to see whether or not there's sera conversion or
12 evidence of infection. And what I'm understanding is
13 there is no such system in place in the U.S. or its
14 territories for Zika virus. Is that correct?

15 **DR. FISCHER:** So, there's not a system to
16 routinely screen other blood specimens that are
17 collected for clinical purposes other than blood
18 donations. However, if somebody -- a physician or
19 health care provider -- chooses to test a patient --
20 and this includes, for example, many asymptomatic
21 pregnant women who have no symptoms of disease, may not

1 even have an epidemiological exposure. If they get
2 tested and are positive, they will be reported into the
3 system. First from the laboratory result and then from
4 the state health department will report it. So, if the
5 testing is performed, it will be captured. But there
6 is no other system to routinely screen clinical
7 patients or blood products other than in the blood
8 donation system.

9 **DR. LEWIS:** So, it relies on clinical
10 suspicion?

11 **DR. FISCHER:** Correct.

12 **DR. LEWIS:** Okay.

13 **DR. CHITLUR:** Hi, this is Meera Chitlur. Can
14 ask a question?

15 **DR. KAUFMAN:** Yes, please.

16 **DR. CHITLUR:** In continuation with the
17 conversation that has just taking place, so if a person
18 donated blood, say two weeks ago, and then developed
19 some kind of viral illness, goes in to see the
20 physician, is diagnosed with Zika virus, how does --
21 and I'm sure the CDC gets that information. But is

1 there any way to -- is that information backtracked to
2 the donation site to say that this patient has now
3 developed an infection?

4 **DR. FISCHER:** So, there are two parts to that
5 question. CDC would learn about that patient if they
6 had a laboratory test done and it tested positive for
7 Zika. That would be reported to their state or local
8 health department. That would be investigated and then
9 reported to CDC. Or if the health care provider, even
10 if a test wasn't done, reported the case to the health
11 department, then eventually after investigation,
12 confirmation will be reported to CDC.

13 The follow-up of that patient, just because
14 they were a blood donor and had donated two weeks
15 earlier, is separate from CDC surveillance; and that
16 really could be better answered by someone from the
17 blood services agency as to what follow-up there is for
18 blood donations.

19 **DR. STRAMER:** This is Sue Stramer. I don't
20 know if you can hear me.

21 **DR. KAUFMAN:** Yes, go ahead, Sue.

1 **DR. STRAMER:** Let me respond. Blood donors
2 are encouraged to report post donation information if
3 they were diagnosed for any specific agent for which
4 blood donation screening occurs. Typically, this is
5 required during the time where products are still
6 within our control, so we can take actions on those
7 components. But if a donor has a confirmed infectious
8 disease, even within two weeks, the expectation, either
9 from the donor or the donor's physician or even from
10 the state public health department, is that that will
11 find its way back to the blood center.

12 **DR. KAUFMAN:** Thank you. Sorry. Dr. Baker.

13 **DR. BAKER:** Thank you. Judith Baker. So,
14 following up on this thread, so if a woman gives birth
15 to a child with microcephaly, what might be some
16 systems in place to investigate that in the U.S.,
17 currently, that might trace it back to a blood
18 donation?

19 **DR. FISCHER:** So first, I'll talk about the
20 first part. The tracing back to a blood donation is a
21 separate issue. The first part would be, again, there

1 needs to be -- there are two ways that the birth could
2 be associated back to a Zika virus infection. The
3 first would be, at the time of birth, somebody -- a
4 health care provider -- recognizes microcephaly. The
5 mother maybe had an exposure and performs testing.
6 Although, I will say testing for congenital Zika virus
7 infection is difficult, and we don't know the optimal
8 way to do that. But that will be the first way it'd be
9 identified.

10 The second is during the outbreak, there were
11 two registries that were established in the United
12 States, one for U.S. states and one for Puerto Rico,
13 where any pregnant woman who was identified as being
14 infected during her pregnancy was reported into the
15 registry. And then those babies were followed, or the
16 births were followed to outcome. That has since
17 stopped. But during the peak of the outbreak through
18 2016 and into 2017, the birth might be identified that
19 way, whether the baby had a normal birth and outcome or
20 not.

21 The linking it back to a possible blood

1 donation would be difficult to do. I mean, there's a
2 pretty extensive investigation that would be done about
3 why the mother was tested, if she was identified
4 through the registry, and how she was exposed. And we
5 would get information potentially about blood donation
6 there. It would be a harder case if the baby is first
7 identified at the time of birth. Somebody would have
8 to really be thinking along those lines as to what type
9 of exposure might this mother have had as much as eight
10 months ago.

11 **DR. HOLLINGER:** I don't know whether you're
12 the person to ask or not or really maybe Sue. The
13 specificity of this test is really low. And I
14 understand the difference between when you have a
15 low-risk population versus a high-risk population. For
16 example, Puerto Rico, it was very high. Here, it's
17 very low -- 2.5 percent.

18 My question is, is this peculiar to just this
19 test or is the percentage the same for HPV, HIV, HCV,
20 West Nile, the other things which have NAT testing in
21 the blood banks as well, in terms of specificity?

1 **DR. STRAMER:** Blaine, this is Susan. I'll
2 answer the question. Both West Nile and Zika have
3 extraordinarily high specificity. The positive
4 predictive value is low, but the specificity for both
5 of the FDA licensed Zika tests is 99.996 to 99.997
6 percent. So, extraordinarily good specificity, meaning
7 we have few initial reactives. However, of the initial
8 reactives that we have as shown in the line chart that
9 was shown by AABB, the delta between the blue line, the
10 initial reactive, and the red line is tremendous. And
11 that's why we have a low positive predictive value.

12 This is not unusual for blood donation
13 screening tests, but Zika happens to be one of the
14 agents because of the absence of true positives with
15 the lowest positive predictive value currently. HPV
16 would be one with the highest positive predictive
17 value.

18 **DR. HOLLINGER:** Thank you and I stand
19 corrected about the specificity. Sorry.

20 **DR. KAUFMAN:** Okay, any other questions from
21 the committee? All right. Well, why don't we take a

1 break. We'll reconvene at 10:30. Thank you.

2

1 **BREAK**

2

3 **DR. KAUFMAN:** Okay, thank you. So, I'd like
4 to welcome Dr. David Leiby. Okay. Leiby. He works at
5 OBRR of FDA. And he's going to discuss Current
6 Considerations for Reducing the Risk of Transfusion-
7 Transmitted Zika Virus.

8 **CURRENT CONSIDERATIONS FOR REDUCING THE RISK OF**
9 **TRANSFUSION-TRANSMITTED ZIKV**

10 **DR. LEIBY:** Thank you. As already stated, I
11 am David Leiby and I'm chief of the Product Review
12 Branch here in CBER. I'll be talking about Current
13 Considerations for Reducing the Risk of
14 Transfusion-Transmitted Zika.

15 Specifically, we'll be exploring alternatives
16 to universal testing for Zika virus in the U.S. As
17 you've already heard earlier, available information
18 indicates a decline of Zika virus in the U.S. and the
19 Americas. Thus, FDA is reevaluating its July 2018
20 recommendations on testing blood donations for Zika
21 using minipool or ID NAT. Therefore, FDA seeks advice

1 from the committee on the following three testing
2 strategies, and you saw these briefly earlier. The
3 options are: Option one, no policy change; continue
4 universal testing for Zika virus by minipool or ID NAT.
5 Regional testing for Zika virus with minipool or ID
6 NAT; and then we'll talk about some considerations for
7 these regional options and will also entertain others.

8 **DR. KAUFMAN:** Sorry. I would just like to ask
9 if those who are calling in if you could please mute
10 your phones. We're getting a little feedback here.
11 Thank you.

12 **DR. LEIBY:** Okay. Then the final option which
13 we'll talk about is to eliminate all testing for Zika
14 virus. Apparently, I have an echo. Okay. Along with
15 the three options I presented, there are also certain
16 caveats that go along with these. First of all, FDA is
17 not proposing pre-donation assessment for Zika virus
18 risk factors, and this includes exposure through travel
19 or sexual contact.

20 In part, this is because most infected persons
21 and their sexual partners are asymptomatic and unaware

1 that they are infected. Also, as the outbreak in the
2 Americas has waned, many countries no longer perform
3 active Zika virus surveillance. Keep in mind that FDA
4 approved pathogen reduction technologies remain an
5 alternative to testing when used with apheresis
6 platelets and plasma.

7 Now there are three proposed strategies that
8 have inherent pros and cons, and I'll go through each
9 of those at this time.

10 First, option one: No policy change. As you
11 recall, this is to continue universal minipool or ID
12 NAT testing of blood collections for Zika virus. The
13 advantage of this approach is that it provides
14 nationwide coverage against all modes of Zika virus
15 transmission. This includes local vector-borne,
16 sexually transmitted, and travel-related cases. In
17 contrast, this would still require, though, maintaining
18 a resource-intensive approach in the face of
19 diminishing resources.

20 Option two: Regional minipool or ID NAT.
21 This would involve discontinued testing in most states

1 but maintain regional testing for Zika virus using
2 minipool or ID NAT in at-risk states and territories.
3 I will present a few considerations, and they'll
4 actually be open for discussion later amongst the
5 committee.

6 One consideration is to continue testing in
7 Florida, Texas, Puerto Rico, and the U.S. Virgin
8 Islands where documented local mosquito-borne Zika
9 virus transmission has --

10 **DR. CHITLUR:** Hi. I'm so sorry. This is
11 Meera Chitlur. We are not able to hear anything.

12 **DR. LEIBY:** The second option, and this is
13 cumulative, would be to test in California and New York
14 where the mosquito vectors are present. These states
15 accounted for a significant proportion of Zika reactive
16 donations from travelers returning from Zika-affected
17 countries during the 2016 outbreak.

18 Additionally, for consideration is Hawaii and
19 other U.S. territories where the mosquito vectors are
20 present and documented transmissions of other
21 Aedes-borne arboviruses -- in particular, dengue virus

1 and chikungunya virus -- have occurred.

2 For option two, again, regional minipool or ID
3 NAT, there are several pros and cons. The advantages
4 of option two is that it reduces the volume of testing
5 and alleviates burden in states with low or absent risk
6 of mosquito-borne Zika virus transmission.

7 It also continues testing in areas of highest
8 risk of Zika virus cases from local mosquito-borne Zika
9 virus transmission and with high numbers of returning
10 travelers. And lastly, this approach would maintain a
11 capability to rapidly respond to reemergence of Zika
12 virus in U.S. states and local outbreaks.

13 In contrast, this option would maintain a
14 regionally resource-intensive approach on the blood
15 system for testing donations for Zika in the face of
16 significantly diminished risk. This approach will also
17 not detect an outbreak if one occurs in states that are
18 not testing and will not detect Zika virus infections
19 among returning travelers or sexual contacts in states
20 that are not testing.

21 The third option for consideration today is to

1 eliminate all Zika virus testing. This would eliminate
2 all testing pending another outbreak in the United
3 States.

4 The clear advantage of this approach is that
5 it provides relief from Zika virus testing when Zika
6 virus risk is substantially reduced or absent. It also
7 increases the availability of resources for other blood
8 safety initiatives.

9 However, this approach will reduce
10 preparedness against possible resurgence of the Zika
11 virus epidemic. And lastly, this approach will not
12 prevent transfusion transmission of Zika virus and
13 poses risk of Zika virus complications among at-risk
14 patients -- in particular, pregnant women.

15 So, we will pose three questions for the
16 committee, and after the open public hearing, I
17 believe, the committee will discuss these three
18 questions and then eventually come back to these to
19 vote on them. But I'll just review the three questions
20 at this time, and each question will be voted on.

21 The first question is: At this time do the

1 available data support continuing universal testing for
2 Zika virus using minipool or ID NAT as recommended in
3 the July 2018 final guidance? So, in other words, no
4 policy changes at this time. This was option one.

5 The second question: Do the available data
6 support a regional testing option strategy for Zika
7 virus using minipool or ID NAT in at-risk U.S. states
8 and territories? During the discussion, we can discuss
9 the various options for regional testing.

10 And lastly, question three: Do the available
11 data to support the elimination of all testing for Zika
12 virus without reintroduction of donor screening for
13 risk factors -- in particular, travel -- in areas with
14 no risk of Zika virus infection pending another
15 outbreak in the United States? This, of course, is
16 option three. Thank you.

17 **DR. KAUFMAN:** Thank you. Are there any
18 questions from the committee for Dr. Leiby? Dr.
19 Bryant.

20 **DR. BRYANT:** On both option two and three,
21 there's a comment about reduced preparedness against

1 the possible resurgence and delay in being able to
2 rapidly respond to reemergence. What would we be
3 looking at if we had a reemergence and we were not
4 currently testing? What type of delay would be
5 expected?

6 **DR. LEIBY:** That's probably a question better
7 answered by the blood centers, I believe, how quickly
8 they could ramp up testing.

9 **DR. KAUFMAN:** Dr. Shapiro.

10 **DR. SHAPIRO:** Is there another possibility of
11 testing units for individuals at higher risk of
12 sequelae from transmission; for example, any pregnant
13 woman who received a transfusion, and testing those
14 units?

15 **DR. LEIBY:** I think that's certainly a
16 possibility; but I think, in general, keeping dual
17 inventories of products is not popular among the blood
18 centers. But they can speak to that as well.

19 **DR. KAUFMAN:** Yeah, I think that's right. I
20 think, in general, it's logistically challenging, for
21 example, to identify specific patients that might be at

1 particular risk for babesia, for example, we worry more
2 about asplenic patients getting infectious units. But
3 in fact, people that have had transfusion-transmitted
4 babesia have kind of run the full gamut of patients
5 that get transfused.

6 And so, I think, in general, both at the blood
7 center level and at the hospital transfusion service
8 level, the preferred approach is to kind of handle the
9 safety at the level of the blood rather than worry
10 about, well, this blood is safe except for this
11 particular patient, that sort of thing. Dr. Ortel.

12 **DR. ORTEL:** So, if we went with one of the
13 strategies that potentially decreased testing, would
14 there be some type of metric potentially attached to
15 the CDC so that if there was a bump in reported
16 infections that that would lead to a reevaluation? Or
17 is there a way to link it so that if there is a change
18 in apparent infection rate in the U.S. that that would
19 have this be reexplored?

20 **DR. KAUFMAN:** I don't know. That might be a
21 question for Dr. Fischer. I would say that one of the

1 inherent challenges with this is that part of the
2 surveillance itself is screening the blood supply. So,
3 you won't find things that you're not testing for. So,
4 you would be more reliant on other surveillance.

5 **DR. SCHREIBER:** Marty Schreiber. I'd like to
6 lend support to Dr. Shapiro's idea, because I actually
7 thought the same thing. I mean, when you look at these
8 options, option number one, we're going to test
9 everyone, spend millions of dollars when there's only a
10 very select group that's at risk. Option two, even
11 within those -- those are big states California, Texas,
12 Florida -- millions of people live in those states.
13 But if there's only a very specific sensitive
14 population, why not focus on that population? Then
15 option number three, don't test anyone. Well, pregnant
16 women are at risk.

17 It seems to me that you would isolate this
18 down to maybe option number three, but the sensitive
19 population. It makes the most sense that -- what we've
20 heard earlier today was that if infected blood is given
21 to a non-sensitive population, it has essentially no

1 morbidity. So, it doesn't make sense to test blood
2 going to those patients. I think Dr. Shapiro's idea
3 makes a lot of sense.

4 **DR. KAUFMAN:** Dr. Fischer.

5 **DR. FISCHER:** So, with regard to the question
6 about surveillance, certainly having blood screening as
7 an alternative surveillance mechanism is useful because
8 it's unbiased. It tests everybody, but it's not a
9 primary mechanism that we use as surveillance. It's
10 certainly not sensitive to -- there's not a high enough
11 population of blood donors that are being tested. So,
12 it's nice as an additional system, but it's not a
13 primary mechanism for surveillance.

14 As far as linking restarting screening to
15 indicators that we would have, I think that could be
16 done. I think the biggest issue is going to be that
17 what's going to happen is you'll see increases in
18 travel-associated cases, because there's more likely to
19 be outbreaks in other areas. And those are going to be
20 sporadic throughout the country. Although, just based
21 on population where those people live and where they're

1 traveling from, you are going to see more cases in
2 certain states, which I was trying to show in my
3 slides. But it's less likely we're going to see local
4 transmission and outbreaks.

5 When that does occur, if it happened again in
6 Puerto Rico or U.S. Virgin Islands or Florida, that
7 would be, I think, easier to respond to. I don't know
8 how long it would take to get there, but that would be
9 easier to respond to and reinstated in that area.

10 But I don't know what you would do if you had
11 a large outbreak outside of the United States. Most of
12 the cases and risk to the blood was from sporadic cases
13 of travelers going to other areas. That would be more
14 difficult to respond to, I think. Would you reactivate
15 it across the country or just in those areas sort of, I
16 guess, like what you're proposing in choice two right
17 now.

18 **DR. KAUFMAN:** Dr. Bloch.

19 **DR. BLOCH:** Evan Bloch. So just getting back
20 to the selective inventory, I'm not sure how that would
21 actually work, because I think the only (inaudible)

1 where we maintain a dual inventory is with CMV, which
2 arguably you have parallel leukoreduction which impacts
3 that. But because antenatal transfusion is so rare,
4 I'm not sure how you would actually implement that.

5 I think that there's actually no data on rates
6 of antenatal transfusion. And just, conceptually, if
7 the patients, fetuses, which are most at risk, are
8 going to be in the first trimester when really you
9 won't see any transfusion outside of an abortion
10 spectrum or ectopic pregnancy. So, the risk is really
11 probably later on in pregnancy and is really a
12 difficult thing to implement.

13 **DR. SHAPIRO:** Except maybe in higher risk
14 groups, such as sickle cell, women who are pregnant
15 might be at a higher risk of transfusion.

16 **DR. BLOCH:** Sure, but the catch is that, I
17 think, that when the transfusion is actually happening,
18 I think would be later in pregnancy where it's hard to
19 say what the actual risk of transfusion-transmitted
20 Zika is. But it would be more likely to be in your
21 second and third trimester when at least organogenesis

1 has -- most of it's taken place.

2 **DR. SHAPIRO:** I would say that's not exactly
3 true in sickle cell disease. Some of these people are
4 transfusion dependent.

5 **DR. KAUFMAN:** Dr. DeMaria. Sorry. Go ahead,
6 Sue.

7 **DR. STRAMER:** I just wanted to respond and
8 support what you said. Most hospitals do not want and
9 are very adamant against carrying a dual inventory,
10 whether it's for babesia or CMV, and it's something we
11 are trying to reduce or eliminate. It's very difficult
12 to know who's pregnant, who's not pregnant, even though
13 this would reduce testing significantly.

14 But let me talk about not testing, and the
15 question was asked about the time for ramp-up. So, the
16 FDA released guidance in July 2016 for universal ID NAT
17 and it took the blood establishment six months to ramp
18 up. So that may be a question. But that was when the
19 vendors were making tests and all they had to do was
20 increase testing volumes.

21 If we totally eliminate testing, then we're

1 dependent for the manufacturers then to reinitiate
2 manufacture of tests. So that timeline from six months
3 -- I mean, theoretically could be longer, but the
4 timeline is unknown. But without any testing, I would
5 think it would be really short. And recognize, too,
6 with minipool NAT, the way we do it now if there are
7 local outbreaks, we would initiate individual donation
8 testing to increase the sensitivity of testing.

9 **DR. KAUFMAN:** Thank you. Go ahead.

10 **DR. DEMARIA:** Al DeMaria. I just think that
11 you'd have to consider a situation where a woman would
12 need a transfusion during pregnancy, which is, except
13 for sickle and a few other things, is probably a rare
14 occurrence; and then just happens to get that one in a
15 hundred thousand units, even at the height, that would
16 have been putting them at risk. And then what we know
17 about the risk during pregnancy, I'd still be worried.
18 But it looks like maybe five to ten percent risk of a
19 serious outcome in the baby. So, when you sort of
20 multiply all of those risks, it comes out to be
21 extremely low.

1 **DR. KAUFMAN:** Go ahead, Marty.

2 **DR. SCHREIBER:** So, along those lines, why
3 couldn't you -- instead of having a dual blood supply,
4 why couldn't you screen units of blood designated to go
5 to pregnant women at the time that those units are
6 designated to go? And if that unit is positive for
7 Zika, you don't give it to her. It's going to be an
8 extremely rare event, but if that unit is negative,
9 which is a 99.9 percent likelihood that it will be
10 negative, you don't give that unit to her.

11 **DR. KAUFMAN:** Oh, well, any unit that's
12 positive for any infectious marker's not transfused.
13 So those are all discarded.

14 **DR. SCHREIBER:** Right. But what I'm saying is
15 that you would only test the ones designated for
16 pregnant women. So, you wouldn't test for Zika virus
17 unless the unit is designated to go to a pregnant
18 woman.

19 **DR. KAUFMAN:** Oh yeah. So, the way that the
20 blood enters the hospital inventory is first the donor
21 undergoes the usual screening questions, donates the

1 unit; and then, at the time of donation, samples are
2 collected. The samples are then tested by the blood
3 center or at a central testing facility.

4 So, in our case, for example, we have a
5 hospital-based blood donor center. We send testing off
6 to a central testing facility and usually get results
7 back, both for the serologic screening tests and for
8 the nucleic acid tests, within about 36 hours,
9 something like that. Before those tests come back, the
10 units are not entered into inventory. So, that is,
11 they cannot be labeled or given to any patients until
12 the results come back.

13 **DR. SCHREIBER:** So, it's not possible for a
14 hospital that's giving a blood transfusion to test at
15 the time the transfusion is ordered?

16 **DR. KAUFMAN:** It is not. The only exception
17 -- I mean, sort of the only test that can sort of be
18 contemplated in the setting of a unit that's already in
19 inventory has to do with bacterial testing for
20 platelets. Other than that, all the testing is
21 completed and resulted and found to be negative before

1 the unit can be made available for patients.

2 And again, just to talk about the dual
3 inventory part, not only is it logistically complicated
4 -- not impossible, but very, very hard for hospitals to
5 maintain, kind of - frankly, even CMV tested, CMV not
6 tested. My own hospital uses leuko-reduced blood as
7 equivalent for CMV safe to avoid that issue. And
8 frankly, we also -- this is not done everywhere -- we
9 support a large cancer center. We actually universally
10 irradiate cellular products, again, for this idea of
11 not having kind of boutique style. We have different
12 sort of inventories.

13 But, in addition to the logistics, it's very
14 hard to even run into situations, okay, if we sequester
15 a set of O negative units, and we're going to save
16 these for just in case a pregnant woman needed to be
17 transfused, now our inventory is limited. And now
18 somebody's bleeding, and they really need O negative
19 units. So those kinds of practical things come up
20 frequently, making it very hard to say we're going to
21 have kind of special units for certain patients.

1 There are some different sorts of cases.
2 We're talking about sickle cell disease where you might
3 have, for example, genotypically negative or extended
4 matched units specific for our population. But when it
5 comes to infectious disease screening, it's definitely
6 not something that would be easy or popular to do. I
7 don't know if anyone else wants to comment on that.

8 Oh, sorry. Dr. Lewis.

9 **DR. LEWIS:** So, I have a comment about the way
10 the question has been framed. It seems to me that
11 there's two different -- qualitatively different types
12 of vulnerabilities that we're trying to address. So,
13 one is the vulnerability to the blood supply and
14 potential recipients of blood products based on the
15 current epidemiology of the tail end of a waning
16 epidemic.

17 And I think it would probably be a pretty easy
18 question for the committee and for the agency if we
19 actually had some way of having a crystal ball and
20 knowing that the current trend was likely to continue.

21 That's actually not the question. I think the

1 question is, what is the optimal strategy, given that
2 we have no idea if that tail is going to continue in
3 the current trend for years or decades; or if a year
4 from now or three years from now or six years from now,
5 when clinicians have largely forgotten about this
6 disease, and therefore don't send tests for clinical
7 suspicion because we have no suspicion, if we're at the
8 beginning of an uptick.

9 I think it's the incredible uncertainty in the
10 likelihood that in each year from now we're going to be
11 at the beginning of a new epidemic that makes the
12 question really difficult.

13 It seems to me that, given the agency's
14 ability to revisit these questions at periodic
15 intervals, we're going to know more about the global
16 epidemiology of this disease in two years than we know
17 now, and in four years than we do two years from now;
18 and that maybe the committee ought to be thinking about
19 what is the right strategy for the next two years, with
20 an underlying assumption that this is a question that
21 can be brought back as we learn more about how this

1 disease behaves? Because, you know, 10 years from now,
2 we knew nothing about it, essentially.

3 **DR. KAUFMAN:** No, I mean, I think that's a
4 really good point. In preparing for this meeting, one
5 of the things that I learned is there has not been a
6 second wave identified yet. It doesn't mean it won't
7 happen someday, somewhere. But in outbreaks in Yap, in
8 Polynesia, in Brazil, in other places, this virus seems
9 to kind of explode in a population and then it sharply
10 declines. That's what's been seen everywhere so far.
11 And that's what we know.

12 There's a little bit of weirdness to that,
13 too. We heard from Dr. Fischer that maybe it seems
14 like about 30 percent of the population has antibodies,
15 roughly, maybe. And what does that mean? Like is that
16 -- I don't know. It seems low to me to have a kind of
17 a herd immunity, but maybe it's not. I don't know.

18 But I do think you're right; I think we have
19 to evaluate with the knowledge that we have now and
20 think about what realistically might happen. That has
21 to weigh into kind of how we think about what to do.

1 **DR. LEWIS:** But to rephrase, just ever so
2 slightly, I actually think that the tremendous volume
3 of information that was presented about history up to
4 March of 2019, it actually, I think, is not the point.
5 The point is that we have experience with, essentially,
6 one epidemic, and we're trying to extrapolate from that
7 the likelihood of a second epidemic. That's a
8 virtually impossible problem, at least from a
9 statistical point of view.

10 **DR. KAUFMAN:** Dr. Basa- -- sorry. Is that
11 Sue?

12 **DR. STRAMER:** Yes. I just wanted to add to
13 Dr. Lewis's comments. In trying to gather industry
14 opinion, I mean, we share the concerns raised by Dr.
15 Lewis, that it may be premature to come up with a
16 definite strategy for the future because we don't
17 understand what will happen. We are in a stable phase
18 right now with minipool NAT. Although it's costly, it
19 is far less burdensome than we had with individual
20 donation NAT, and many of the cons that were presented
21 go away. So, one position that industry has is that we

1 should wait at least another year, continue with what
2 we're doing, and then revisit it based on additional
3 knowledge that we gain in at least a year.

4 **DR. KAUFMAN:** Thank you. Dr. Basavaraju.

5 **DR. BASAVARAJU:** I just wanted to also point
6 out that the virus is not gone. There're still tens of
7 thousands of cases in the Western Hemisphere, at least
8 that we know of, based on limited testing. There're
9 presumably hundreds of thousands, if not more, of
10 people who are returning from these areas to the United
11 States.

12 I think when people -- pregnant women or
13 potentially pregnant women or soon to become pregnant
14 women -- can get transfused for non-pregnancy related
15 issues. And I think it would be at times -- at least
16 when people are being emergently transfused, it's
17 impossible to know if they're pregnant or not. So, it
18 seems that there's still a risk if testing was to be
19 discontinued.

20 **DR. KAUFMAN:** Dr. Baker.

21 **DR. BAKER:** Judith Baker, so in looking at

1 option two, which asks for supporting, quote, "a
2 regional testing option," is there flexibility to even
3 consider more than one regional testing option? Does
4 the FDA have that as an option?

5 **UNIDENTIFIED MALE:** Yes, we can actually
6 consider other options than those three presented or
7 some mix of those, but we're flexible.

8 **DR. DEMARIA:** The question I have about
9 regional testing is that when you present this to the
10 public, anybody from anywhere can travel anywhere and
11 get Zika. So, there's a fairness issue, in terms of
12 just testing where you think the risk is the highest.
13 If we're really going to have sort of a zero-risk
14 approach, then regional testing may reduce that risk to
15 close to zero. But somebody in the middle of America
16 may have a baby affected by Zika.

17 So, I think that becomes problematic when
18 trying to explain regional testing. It came up with
19 babesia too. Regional testing to the general public,
20 because they're taking a zero-risk approach and they're
21 saying, well, you know, my neighbor could have traveled

1 and then they had a tick and something -- you know,
2 they donated blood and I got babesiosis because you
3 didn't test in Iowa.

4 So, you know, I think that's another
5 consideration when considering regional testing,
6 especially if it's something that has such a low risk
7 of actually happening and a relatively low consequence
8 risk.

9 Because, I think, ultimately with Zika, I'm
10 not sure we would be testing every donor in the United
11 States if babies were not severely affected. Because
12 the approach to Zika changed immediately. And I'm not
13 saying that was inappropriate, but I think that's the
14 context of why we're testing. We're not testing
15 because Zika is bad in general. We're testing because
16 Zika is bad in particular.

17 **DR. KINDZELSKI:** Andrei Kindzelski. To
18 continue what you just mentioned, I think it would be
19 interesting to know, what is the status of development
20 of a rapid Zika testing assay that potentially could be
21 implemented in the hospitals for very specific type of

1 patients? And also, another thing is, to what degree
2 pathogen inactivation can help with Zika?

3 **DR. KAUFMAN:** So, in response to the second
4 question, I think the Zika virus like other
5 flaviviruses is easily killed by pathogen reduction and
6 the FDA's basically already decided that that can be
7 used as an alternative to screening. With respect to
8 the first question, I'm not sure that's within the
9 scope of today's talk. It would not be something that
10 would affect testing of donated blood specifically.
11 Sorry. Dr. Ortel.

12 **DR. ORTEL:** Tom Ortel. Can I just clarify on
13 number three? It states that support the elimination
14 of all testing for Zika without reintroduction of donor
15 screening for risk factors, for example, travel in
16 areas with no risk. Is there an option that it could
17 be that you would reintroduce testing for high risk for
18 people who travel to high-risk areas? In other words,
19 kind of following up on Dr. DeMaria's question about
20 instead of being regional, should we target people who
21 may have placed themselves at a higher risk to be

1 exposed?

2 **DR. HOLLINGER:** Yeah, along those same lines
3 too and I think it's a good question. Is the 30-day
4 travel deferral to certain regions still in existence
5 and utilized? I'm always in favor of a 30-day travel
6 deferral for everything, for any travel outside the
7 contiguous United States because I think so many of the
8 infections that we're concerned about have a short
9 viremic stage. It would not be a bad policy that
10 anybody that travels would not donate for 30 days,
11 which would eliminate, I think, a lot of things.

12 And that comes back to the other question is,
13 if we still have the 30-day travel deferral, what is it
14 based on? What triggers it? That's the question I'd
15 like to get an answer to if I could. Anybody in the
16 blood banking?

17 **DR. STRAMER:** Blaine, this is Sue Stramer. We
18 don't have a 30-day travel deferral. Several years
19 back when chikungunya emerged, we did a pilot looking
20 at either a 14- or 28-day deferral, but that was just a
21 pilot and that's not national policy.

1 **DR. HOLLINGER:** And what is the problem with
2 that? With that policy?

3 **DR. STRAMER:** Whether there's a problem or
4 not, it's nothing that has been vetted by the industry
5 or implemented. It's not to say we couldn't do that;
6 it's just something that involves a lot of stakeholder
7 review and determination if that's what we want to do.
8 It's not an FDA requirement done voluntarily. We, the
9 blood industry, have not done that. The only travel
10 deferral we have is for malaria.

11 **DR. KAUFMAN:** My understanding is that, for
12 example, in Canada, there are travel risk questions
13 around Zika, but they do no testing. There's no *Aedes*
14 *aegypti* mosquitoes in that area. There are no cases
15 and so on.

16 My understanding also is that the FDA is
17 relatively uninterested in travel questions as a
18 deferral strategy for Zika specifically. Maybe someone
19 from FDA would like to comment on that.

20 I think one of the issues is that 80 percent
21 of people who get infected are asymptomatic. Where

1 Zika is and isn't is always changing on the maps. And
2 so, I think, at least for this meeting, that was not --
3 that a travel deferral was not going to be kind of a
4 major focus. I don't know if someone from FDA wants to
5 comment on that point. Dr. DeVan.

6 **DR. DEVAN:** Dr. DeVan from Walter Reed. Two
7 things. The experience with the Armed Services Blood
8 Program is that travel questionnaires are difficult.
9 People forget where they've been, and they forget when
10 they've been. So, putting a time on it would be
11 difficult. And even asking people to remember
12 locations is difficult, especially with something with
13 a vector that may not respect boundaries. I think it
14 would be very difficult.

15 The second point, to follow on what was said
16 about pathogen reduction, I think that's a good option,
17 but it doesn't affect all products. It would only be
18 good for the plasma and platelet products.

19 **DR. KAUFMAN:** Okay. So, I'd like just to keep
20 things moving along. There will be an hour for the
21 committee to continue, basically, trying to figure this

1 out. But prior to that, I'd like to start the open
2 public hearing.

3 **OPEN PUBLIC HEARING**

4 So, I've been asked to read this statement.
5 Welcome to the open public hearing session. Please
6 state your name and your affiliation if relevant to
7 this meeting. Both the Food and Drug Administration,
8 FDA, and the public believe in a transparent process
9 for information gathering and decision making. To
10 ensure such transparency at the open public hearing
11 session at the advisory committee meetings, FDA
12 believes that it is important to understand the context
13 of an individual's presentation.

14 For this reason, FDA encourages you, the open
15 public hearing speaker, as you begin, to state if you
16 have any financial interests relevant to this meeting,
17 such as a financial relationship with any company or
18 group that may be affected by the topic of this
19 meeting.

20 If you do not have any such interest also, FDA
21 encourages you to state that for the record. If you

1 choose not to address this issue of financial
2 relationships at the beginning of your statement, it
3 will not preclude you from speaking and you may still
4 give your comments.

5 So, I'd first like to introduce Tony Hardiman
6 from Roche.

7 **MR. HARDIMAN:** Thank you. Tony Hardiman. I'm
8 the vice president of blood screening for Roche
9 Diagnostics. I am a shareholder in Roche at this time.

10 I'd like to start by saying thank you to the
11 FDA and also the Blood Products Advisory Committee for
12 giving us this opportunity to make a statement and give
13 some feedback on behalf of Roche Diagnostics, who is a
14 manufacturer and supplier of the Zika test to the blood
15 industry in the U.S. and also to other industries --
16 blood services around the world.

17 Our history of working with the FDA and the
18 blood industry on focused collaborations has been well
19 established. If we look back in 2015 with the
20 potential outbreak of chikungunya, we immediately
21 invested and went into a development of a test and an

1 IND with chikungunya and has been highlighted here
2 today with Zika.

3 In late '15-16, we went forward and moved with
4 a Zika development at the same time. As the Zika
5 outbreaks spread to Puerto Rico in early 2016, as you
6 heard this morning, we were asked by the FDA to
7 prioritize the development and deployment of a Zika
8 screening test to protect the blood supply for the
9 Puerto Rico community and patients in general.

10 Roche, upon this interaction with the FDA and
11 the blood community, immediately prioritized the rapid
12 development of a Zika test with an extremely
13 accelerated 10-week timeline. And for us, it involved
14 a very significant investment in people, time, and
15 resources.

16 On April 2, testing was initiated under an
17 investigational new drug application, as you heard
18 earlier, to screen blood unit sourced locally in Puerto
19 Rico. This allowed the blood supply that was stopped
20 from being taken locally to be reinitiated in Puerto
21 Rico and ensure a safe blood supply, as we all want.

1 Following guidance from the FDA, we deployed
2 the tests fully to all Roche platform users in the
3 United States and submitted this to the FDA for
4 licensure in 2017. This was licensed six months later.

5 The Zika story, as you are aware, continued
6 through 2018. In fact, here as you know, in late 2017,
7 the Blood Products Advisory Committee decided to
8 recommend that all donations were screened by minipool
9 versus IDT in the initial case. Again, Roche rapidly
10 ensured that the minipool protocol for the Zika test
11 was developed, submitted, and ultimately implemented as
12 rapidly as possible within weeks of that notification
13 from this committee; and ultimately, on guidance from
14 the FDA, was brought into use in the blood centers.

15 Now, as we look at today and the BPAC answers
16 to the questions that have been posed, I would like to
17 highlight the challenges and significant changes in
18 testing practices and processes will bring from a
19 manufacturer's perspective. Ultimately, we believe
20 this could have, from our view, a significant impact on
21 blood safety.

1 Now the cobas Zika test performed on the cobas
2 6800/8800 system is fully licensed, as we just said.
3 The product is now produced under a full, good
4 manufacturing process quality system. And for us, if
5 that volume is decreased, product availability and the
6 safety stock that we hold for the blood services in the
7 U.S. will need to be reduced.

8 Obviously, as one of the options, if testing
9 is stopped, then during that period of time, we will
10 need to suspend production of the test. Now, different
11 to what we saw under an IND, the fact that this test is
12 produced under a full GMP quality system, it will take
13 us at least three to four months to restart production
14 of that test and, thereby, allow the test to be
15 utilized in the U.S.

16 Now, we, of course, will work with the blood
17 testing centers to implement and support whatever
18 recommendation comes out from this committee and
19 ultimately is put into guidance via the FDA. But I
20 really would like you to be aware of the supply chain
21 considerations and issues that I've already mentioned.

1 Roche has and will continue to invest
2 significantly in blood safety. Just as today, we have
3 an active surveillance program ongoing that is designed
4 to detect new and emerging agents worldwide in order to
5 develop tests as needed to protect the blood supply.
6 But obviously, any collaboration requires commitment
7 from all parties concerned to allow us to continue that
8 research.

9 Over the past several years, Roche has
10 received FDA approval for novel NAT testing platforms
11 such as the cobas 6800/8800 system, and a growing
12 portfolio of assays specifically to meet the needs of
13 the blood industry. Now, I really hope we can continue
14 to expand that, based on commitments as we move
15 forward.

16 So, for us, Roche requests that this committee
17 clearly demonstrates its continued commitment in blood
18 safety for the United States such that Roche
19 Diagnostics and other manufacturers can continue to
20 invest in novel products as we continue to focus on
21 global surveillance and detect emerging infections that

1 can threaten our blood supply.

2 Finally, on behalf of Roche Diagnostics, I
3 would like to reinforce our commitment to the blood
4 industry and to blood safety. Our goal is to be your
5 partner in fighting back against the risk of any and
6 all emerging infections by providing proven, robust
7 technology for the testing of blood and blood products.
8 Thank you very much for your time.

9 **DR. KAUFMAN:** Thank you. I would like to
10 invite Jerry Holmberg from Grifols.

11 **DR. HOLMBERG:** Thank you. My name is Jerry
12 Holmberg. I'm senior director of Strategic Scientific
13 Innovation at Grifols Diagnostic Solutions.

14 Grifols is a global healthcare company with
15 more than 75 years of history of advancing patient
16 care. The company strives to meet the needs of the
17 patient through our four divisions: diagnostic,
18 bioscience, hospital, and bio-supply.

19 Our diagnostic division offers a comprehensive
20 transfusion medicine portfolio designed to support
21 transfusion safety with nucleic acid testing,

1 capability, and immunohematology solutions from
2 donation through transfusion. Grifols' bioscience
3 division produces lifesaving plasma derivative
4 medicines to treat a variety of rare, chronic, and
5 often life-threatening diseases. And the hospital
6 division offers specialized products and services to
7 enhance the quality, safety, and efficiency of hospital
8 pharmacies including sterile compounding processes.

9 Grifols applauds the FDA for seeking the
10 advisory committee's recommendation to appropriate
11 strategies to reduce the risk of Zika virus
12 transmission by blood and blood components.

13 Effective transfusion disease response is a
14 critical component of the public health infrastructure.
15 Management of the blood supply requires robust
16 strategies to promote stewardship of this public good.
17 The development and implementation of these strategies
18 should take into account diverse stakeholders'
19 perspectives, including blood collection facilities,
20 providers, suppliers, and recipients of blood and blood
21 products.

1 From a supplier's perspective, effective
2 infectious disease response requires predictability.
3 As a developer and manufacturer of nucleic acid blood
4 screening assay, Grifols supports the 2016
5 recommendation of the Advisory Committee on Blood and
6 Tissue Safety and Availability, particularly their
7 recommendation to reduce regulatory uncertainty with
8 respect to innovation and to encourage investment in
9 their development and implementation.

10 Grifols relies on a predictable regulatory
11 policy approach when making investment decisions,
12 including determining which infectious disease targets
13 are appropriate for the development of a new blood
14 screening assay.

15 Today, the committee is considering three
16 different testing strategies to reduce the risk of Zika
17 virus transmission by blood and blood products. Grifols
18 would like to share our perspective on these strategies
19 and how they may impact a potential resurgence of Zika
20 virus.

21 The first option of no policy change would

1 ensure the most robust response to a potential
2 resurgence of the Zika virus. While there has not been
3 a confirmed Zika positive donation since March 2018,
4 continuing with the current approach to testing is the
5 lowest risk option because every blood donation would
6 continue to be screened.

7 Universal screening supports surveillance and
8 early identification of new potential outbreaks,
9 enabling the mobilization of multiple tubes to prevent
10 further spread of the virus, including vector control
11 strategies.

12 The universal testing mandate was a critical
13 part of the successful response to the Zika virus in
14 2016, maintaining universal donor would support a
15 strong defense to the risk of a new outbreak of Zika.

16 The second option of discontinuing testing in
17 most states but maintain it in certain states presents
18 some challenges to preparedness. The highly mobile
19 nature of the public makes it difficult to know if a
20 donor has been exposed to the Zika virus if the donor
21 is donating in a state outside the mandated geographic

1 screening area. This is particularly true because the
2 FDA is not recommending a pre-donation assessment for
3 Zika risk factors, such as possible exposure through
4 travel or sexual contact.

5 Because most infected individuals are
6 asymptomatic, relying on other pre-donation assessments
7 such as questions regarding wellness, are unlikely to
8 filter out donors who have been exposed to Zika.
9 Furthermore, it is challenging to predict when Zika may
10 reemerge as a major threat to the blood supply.

11 While there has been a decline of identified
12 cases of Zika over the last two years, an article
13 published in *Nature Microbiology* this month concluded
14 that the global population at risk from malaria or from
15 mosquito-borne diseases will likely continue to expand
16 as mosquitoes migrate to new geographical regions. The
17 authors relied on a statistical model to predict the
18 future geographic distribution of *Aedes aegypti* and
19 *Aedes albopictus* mosquitoes and found that by 2050, 49
20 percent of the world's population will be at risk of an
21 arbovirus transmission.

1 Finally, the third potential strategy of
2 eliminating all testing presents the most difficult to
3 preparedness from a supplier's perspective. If the FDA
4 eliminates the Zika testing mandate, the demand for
5 Zika nucleic acid assays is likely to drop
6 significantly.

7 Any uncertainty in the potential demand for
8 Zika assay will impact the ability to supply assays to
9 the marketplace. The manufacture and distribution of
10 assays are complex and cannot be easily turned on and
11 off. It may be very challenging for manufacturers to
12 accommodate a "just in case" or "just in time"
13 inventory of blood screening assays.

14 Due to shelf life limitations and operational
15 challenges, Grifols may be unable to maintain a
16 significant number of assays on hand to aid in response
17 to a reemergence of the Zika virus. Additionally,
18 manufacturers may cease production if there is an
19 uncertain demand for screening assays.

20 If new outbreaks emerge, it would take
21 significant resources and time to deliver the assay.

1 In order to redeploy the assay, manufacturers would
2 need to allot time to restart production; obtain FDA
3 lot release; to obtain, retrain customers; and possibly
4 for customers to validate the assays in their
5 laboratory. We estimate that the reactivation process
6 could take three months or greater from the time of
7 notification of a need of the assay when the assay may
8 be ready to be deployed. The time involved to restart
9 manufacture is a vulnerability in Zika virus response.

10 Again, Grifols applauds FDA's openness to
11 address these important issues by seeking
12 recommendations from the advisory committee. We
13 encourage the committee to consider the impact of any
14 changes to the Zika screening recommendation on all
15 stakeholders, including blood collection, facilities,
16 providers, suppliers, and recipients of blood products.

17 We thank you for the opportunity to comment
18 today. And please consider Grifols a resource as you
19 continue to discuss these important issues. Thank you.

20 **DR. KAUFMAN:** Thank you. Our next speaker
21 will be Kate Fry from America's Blood Centers. Okay.

1 Mike Busch.

2 **DR. BUSCH:** Thank you. I wanted to present a
3 broader context for this discussion and then some
4 specific data, some of it addressing some of the
5 questions of the committee. So, this is really my
6 life. I started doing blood bank research in 1982 and
7 you can see the dramatic reduction in established
8 pathogens -- HIV, hep C, hep B -- but across the top,
9 you can see that virtually every year for the past 20
10 years, we've responded to an emerging infectious
11 threat.

12 Some of these have been clear pathogens for
13 which we've introduced testing or other technologies.
14 Others are true human pathogens but are not transmitted
15 or don't cause significant disease and others were
16 false alarms, the most recent big example XMRV, but
17 just to illustrate that this is an ongoing process.
18 The next slide, please.

19 It just shows a WHO map from last year of the
20 number of emerging and reemerging infectious diseases
21 around the globe. I think we're all aware of this.

1 This just increases with expanded virus discovery,
2 global warming, etc.

3 The next slide focuses in on the arboviruses
4 -- human arboviruses -- and shows the regions of the
5 world and particularly the Americas, where we now have
6 all three Zika, dengue, and chikungunya circulating
7 simultaneously at substantial but unpredictable rates
8 year to year. In the little box to the left, you can
9 see the Caribbean islands, including Puerto Rico, which
10 has again all three viruses and an early evidence of a
11 new dengue outbreak this year.

12 I just want to point out that the contribution
13 of the blood screening field to enhance diagnostics is
14 actually substantial and I'll come back to that. Many
15 of the tests that we've helped develop through our
16 needs for very sensitive molecular serologic tests have
17 been brought to bear on epidemic surveillance and
18 response. And this is a very recent report in *BMJ* on
19 the importance of having good diagnostics for epidemic
20 response.

21 I'm not going to go through any of this in

1 detail due to lack of time. But it's clear that we
2 need to synergize the blood screening needs for
3 diagnostic assays with broader public health needs in
4 order to be ready and responsive to pandemics. Next
5 slide.

6 I just want to mention that while we're
7 talking about scaling back testing, there's actually a
8 huge expanded focus now on epidemiology to respond to
9 emerging arboviruses and infectious diseases. After
10 the pandemic of Zika recently and the continued
11 reemergence of Ebola, there've been a number of
12 initiatives; this one funded through the United Kingdom
13 to respond with very focused networks to proactively
14 identify and understand the epidemiology, understand
15 the pathophysiology, clinical consequences of
16 arboviruses throughout Brazil.

17 The next slide is an initiative just announced
18 by NIAID to establish emerging infectious disease
19 research centers throughout the high-risk regions of
20 the Americas and Africa and Southeast Asia, recognizing
21 the dramatic gaps that we've had in research

1 infrastructure to detect and rapidly respond to
2 emerging pathogens, and particularly, RNA viral
3 pathogens, such as these arboviruses.

4 So, we are intending to respond to this
5 network with a collaboration of Brazilian sites
6 throughout the country that are part of the REDS-III
7 network along with Puerto Rico in order to contribute
8 the blood screening environment along with clinical
9 case surveillance in response to -- to be proactive in
10 responding to these not only known but unknown
11 flaviviruses and other arboviruses.

12 So, moving on to just a little bit of data.
13 So, we've conducted two large studies that have, I
14 think, informed prior committees' deliberations. One
15 was focused on the outbreak in Puerto Rico. We're in
16 collaboration with Roche.

17 We screened the population there through our
18 testing division and identified 339 infected donors. A
19 large proportion of those donors were enrolled into a
20 follow-up study where samples were obtained two to
21 eight weeks later, and I'll show a little bit of that

1 data and how that's relevant to understanding the
2 magnitude of infection in Puerto Rico.

3 And then a second study where 53 infected
4 donors were enrolled into longitudinal follow-up for a
5 year and extensive data on persistence of the virus and
6 blood compartments and serologic patterns et cetera
7 have been generated that, again, I'll show you are
8 relevant to the question of the magnitude of infection
9 in Puerto Rico.

10 So, the next slide just summarizes the
11 methodology that was used. This was modeling done by
12 Brad Biggerstaff at CDC, where we used the doubling
13 time of Zika from infected macaques to estimate dates
14 of infection of the donors in Puerto Rico detected in
15 the pre-IgM phase. And then based on the follow-up
16 data from the IND trial, we could establish the
17 duration of NAT reactivity that is detectable by the
18 very sensitive blood screening assay; in this case, the
19 Roche assay. And then those data were further modeled
20 to estimate the infection rate that occurred within the
21 general population of Puerto Rico.

1 The next slide just points to the persistence
2 of the viral RNA. So it, on average, last 11.6 days
3 from initial detectability to clearance of that plasma
4 viremia; and about seven and a half of those days are
5 sera negative and therefore highly at risk for
6 transmitting.

7 Using that 11.6 days in the NAT yield data
8 through the course of the epidemic, on the next slide,
9 Brad Biggerstaff was able to model the number of
10 infections in the Puerto Rican population and the
11 proportion of the Puerto Rican population that was
12 infected during that very large outbreak. As you can
13 see, at the bottom, we estimate that 21.6 percent of
14 the Puerto Rican population was infected during that
15 very large 2016 outbreak.

16 Now in the next slide, you can see the
17 antibody patterns over time. These were tests that
18 were selected after extensive assessment of a large
19 number of different antibody tests, many of which have
20 very nonspecific reactivity as a consequence of prior
21 dengue infection. But, in particular, these tests from

1 Nirmidas, from Bio-Techne, and are tested at U.C.
2 Berkeley called the blocking of antibody assays showed
3 very specific reactivity to Zika, not impacted by
4 preexisting dengue reactivity.

5 But you can see that the antibodies wane over
6 time. In particular, on the lower right are the
7 neutralizing antibody titers in these 53 donors
8 followed for a year. And you can see how those
9 antibodies wane over time, raising concerns over
10 susceptibility of even previously infected people to
11 reinfection.

12 Now the next slide shows an important study
13 that we recently completed where we took 500 samples
14 from early 2015. This was actually a post-chikungunya
15 outbreak sample set that's been previously published,
16 and then samples from the very first week when we
17 started screening in Puerto Rico in April of 2016, and
18 then at three sequential time points through the rest
19 of the epidemic and just after the epidemic, and then a
20 year later. And these samples, 500 at each time point,
21 were tested by the very specific Bio-Techne NS1 antigen

1 IgG assay.

2 The next slide shows the results of that
3 testing. You can see that those samples from March
4 2015 were virtually completely negative for Zika IgG.
5 By the time we started screening in April the first
6 week of testing, already four percent of the Puerto
7 Rican population had sera converted to Zika.

8 So, by the time that first three months from
9 the warning signal to the availability of the test was
10 turned on, we already had four percent of the donor
11 pool infected, which means that proportion of people
12 had gone through acute viremia. And were Puerto Rican
13 blood still being collected during that period, those
14 units would have been transfused and likely
15 transmitted.

16 In March of 2017, you can see that the peak
17 rate was 23 percent. So that's very consistent with
18 what we projected based on the NAT yield data of the
19 population being infected. So that means
20 three-quarters of the Puerto Rican population is still
21 Zika naive.

1 In the samples collected a year later, April
2 '18, you can see how the overall rate of reactivity had
3 dropped to 16 percent and the overall reactivity levels
4 began to be much lower. This is indicative of waning
5 antibodies, as I showed in the prior study.

6 So, it points out that you can't rely on a
7 sera survey done years after an outbreak to estimate
8 what proportion of the population was infected and
9 raises the concern that the waning immunity may signal
10 a potential for reinfection, which has been well
11 documented for chikungunya and all four dengue virus
12 sequences.

13 Next slide, wrapping up here. Just to point
14 out the registry under NHLBI sponsorship has executed
15 surveillance studies within Brazil, including both
16 molecular surveillance and sera surveillance across
17 these four hemo centers shown as stars in the upper
18 right corner. And what we've done is to track the
19 rates of Zika, CHIK, and dengue by saving minipools.

20 They were routinely processed for screening
21 for HIV, HPV, and HCV. And minipools of '18 were

1 constructed from all four hemo centers over a period of
2 now three years and they were tested with a research-
3 use only triplex assay, in this case developed by
4 Grifols. This is essentially a blood screening
5 technology assay that was optimized, in part, for
6 purposes of this study.

7 On the next slide, you can see that the --
8 oops. Sorry. This is just an analytic data on those
9 panels on that assay comparing on the left the triplex
10 assay with a single Zika assay showing that these
11 assays are exquisitely sensitive, as we know. At the
12 very bottom, you can see the 50 percent limits of
13 detection of both the Zika component of the triplex and
14 the Zika assay is approximately 1.5 copies per mL.

15 In contrast, the CDC assays are a lot less
16 sensitive; the boxed component here, the high input CDC
17 triplex assay, which is rarely performed outside of
18 the CDC reference lab, the low input assays which are
19 broadly used are even substantially less sensitive.

20 So, on the next slide, you can see that the
21 surveillance in Brazil has documented fluctuating but

1 significant rates of viremia for all three viruses
2 across the four hemo centers, which are part of this
3 network, approaching half a percent of donations being
4 viremic based on the pool surveillance study.

5 The next slide just shows that as we expand in
6 REDS-IV, which is launching soon in Brazil, we're going
7 to add two additional hemo centers, one in the Amazon
8 region and one in a very rural region of Sao Paulo
9 state. So, this surveillance using minipool testing
10 will continue for the next five-plus years.

11 Next slide, finally, just showing some data
12 that speaks back to my earlier point that this is
13 comparing the sensitivity of detection of these three
14 viruses by the ArboPlex test that was built as a
15 prototype for a blood screening assay, compared to the
16 CDC triplex assay. And what this is demonstrating --
17 this a head-to-head blinded comparison of the two
18 different technologies on clinical samples. So, this
19 was a thousand patients presenting with dengue-like
20 syndromes.

21 What you can see is overall 40 percent of

1 these patients had one of these three viruses. There
2 were no cases that were detected by the CDC trioplex
3 that were not detected by the Grifols ArboPlex, but the
4 Grifols ArboPlex assay increased detection by a
5 substantial proportion, detecting 50 percent more
6 dengue infections, 40 percent more Zika infections, and
7 20 percent more chikungunya infections.

8 So just speaking back to the point that we
9 really need these highly sensitive blood screening
10 technologies which are not available outside of the
11 diagnostic. And that other diagnostic settings are
12 from CDC, not only for blood safety surveillance and
13 response, but also to make these tests available for
14 broader clinical utilization. I think that's it.
15 Thank you.

16 **DR. KAUFMAN:** Thank you. So, Michael, I
17 wanted to ask are you going to present for Brian
18 Custer?

19 **DR. BUSCH:** I was asked to just make a brief
20 statement to alert the committee to the statement that
21 was distributed for Brian and Alton Russell. Just to

1 mention that this relates to a recently published study
2 titled Screening of the Blood Supply for Zika Virus in
3 the 50 U.S. States and Puerto Rico: A Cost-
4 Effectiveness Analysis.

5 It includes additional findings regarding
6 the estimated health consequences of
7 transfusion-transmitted Zika that were just as
8 important as the cost-effectiveness ratios that were
9 the primary focus of the paper. And that study touches
10 on the topics this committee has been discussing,
11 particularly with respect to the rates of detection or
12 Zika in the blood supply and the impact of regional
13 testing on minipool and ID NAT.

14 So, I just wanted to alert the committee to
15 that statement and happy to address any questions on
16 behalf of Brian and Alton.

17 **DR. KAUFMAN:** Thank you. So, at this time, I
18 want to ask if there's anyone else from the public that
19 would like to make a comment? All right. Thank you.

20 So, we'll have the open committee discussion
21 now. Thank you. Okay. Well, Dr. DeMaria, would you

1 like to get the ball rolling?

2 **OPEN COMMITTEE DISCUSSION AND VOTE**

3

4 **DR. DEMARIA:** I think I sort of moved back and
5 forth during this whole discussion, because I really
6 hear -- everything we do in preventive medicine, public
7 health, is based on a concept that it should be cost
8 effective; that cost effective is important, and that
9 that should be applied to our decision making.

10 So, in the past 25 years or so, observing the
11 blood collection and transfusion world as sort of an
12 outsider, it's a different world in terms of risk
13 perception and risk aversion. Understandably, because
14 I also work with the public a lot, understandably the
15 public wants to have a totally safe blood supply, and I
16 want to have a totally safe blood supply. But adding
17 testing and never changing it, despite a change in
18 conditions is not really looking at any kind of
19 cost-effectiveness analysis.

20 So, I was happy for this discussion, because I
21 wanted to sort of rigorously think about the

1 possibility of taking something out of the testing mix.
2 Could we get to that point with Zika? And if nothing
3 changed, then I think we're at that point; but we can't
4 say that nothing will change in the near future.

5 I think it's hard to, within the context of
6 the specific questions, but then maybe it's premature
7 to eliminate all testing for Zika. And maybe it makes
8 sense to do the minipools for another few years as
9 other testing options might come up in the future and
10 as pathogen reduction technologies improve. So, I have
11 to think about how I'm going to vote.

12 **DR. KAUFMAN:** Thank you. I think this is a
13 really complicated question. And to your point, and
14 something that the members of the committee who are not
15 transfusion medicine specialists in their regular jobs
16 may not realize that the FDA really has not -- once a
17 test goes in place, it tends to stay.

18 So, for example, we're still testing all
19 donations for syphilis. It's not clear that there's
20 any real value now for doing that. One test that was
21 implemented and then taken out was P24 testing for HIV

1 when nucleic acid testing became available. The NAT
2 testing has a shorter window period, so it really
3 completely obviated the need for that test because it
4 was better and more sensitive.

5 But other than that, there's been really no
6 testing that's been put in and then taken out. And so
7 there's -- I don't know -- there's probably good and
8 bad to that. Testing was put in for, for example,
9 non-A, non-B hepatitis before anyone knew what that
10 was. Hepatitis B core testing was put in. There's now
11 specific testing for hepatitis C virus, which we now
12 know that was what that was. The hep B core testing
13 has remained because it seems to potentially have a
14 little bit of yield for hep B, but it's not used at all
15 for hep C. So, anyway, this would be a little bit of a
16 break in tradition if we -- that is, if the FDA -- if
17 we and the FDA decided to make a change.

18 Again, to your point, I think the primary goal
19 is to protect the safety of the blood supply, to
20 protect our patients. Having said that, if there's
21 truly no yield from a test, then it really, I think

1 does need to be questioned in the current era where
2 there really are limited resources. If we put a lot of
3 resources into one safety initiative, then it means
4 something else is not getting resources. So anyway,
5 other comments? Marty.

6 **DR. SCHREIBER:** So, this is a question for the
7 epidemiologists. I don't think we addressed this yet.
8 But is it not likely that there will be future
9 epidemics at any time, potentially this year, next
10 year, the following year? Can anyone comment on
11 epidemiologically what we're looking at for the future?
12 Maybe Captain Fischer?

13 **DR. FISCHER:** I think as, Dr. Lewis brought
14 up, it's difficult to predict. I think we're unlikely
15 to see an event like we saw in 2016 when the virus was
16 introduced into a completely susceptible population.
17 But I think we're going to continue to see certainly
18 sporadic disease and then outbreaks that will be more
19 focal and probably lower level. And they'll pop up in
20 different areas. I wouldn't want to predict beyond
21 that.

1 **DR. STAPLETON:** So I, like you, Dr. Kaufman, I
2 went back and looked at the Easter Island outbreaks;
3 and that was 10 to 12 years ago, and there doesn't seem
4 to be this second wave phenomenon that everyone worries
5 about.

6 So, my initial feeling was that we haven't
7 seen transfusion-associated disease. But again, the
8 risk aversion nature of what we're trying to do, to
9 protect the blood supply, means you have to be really
10 careful. And I think the new outbreak in India raises
11 concerns because of the size of the population and the
12 potential for spread. So, I've also struggled with
13 this, as well; but I think I'm leaning like Al, that
14 given the need to be risk averse, we may need a few
15 more years before we can change that.

16 **DR. KAUFMAN:** No, and I think that's an
17 interesting point. We don't know what's going to
18 happen in India, for example, and other parts of the
19 world. One of the things that Dr. DeMaria had
20 mentioned before had to do with the initial rollout of
21 nucleic acid testing for this across the country, when

1 really the local transmission risk in the continental
2 United States seemed to be restricted to Florida,
3 basically Texas, parts of the Gulf Coast.

4 But one of the arguments that was made for
5 testing in Iowa, Montana, places where there wasn't
6 going to be any local transmission, was that: One,
7 there seems to be some, not a lot, some sexual
8 transmission possible. And another is the travel. And
9 that is a real challenge.

10 It is striking that, basically, even though
11 the absolute numbers are quite low, for the U.S., it's
12 almost all travel. Not all. There was a little bit of
13 local transmission. And then for Puerto Rico, it was
14 basically all local with a tiny bit of travel. So,
15 anyway, that does need to be considered.

16 On the other hand, the absolute risk -- so if
17 you were to collect a unit in Montana, say, the risk of
18 that being a Zika infectious unit -- let's say you
19 weren't doing any screening -- would really approach
20 zero, even with a travel risk. And even for a while,
21 it was possible to -- for example, in Puerto Rico, they

1 had the option of doing that as a reduction or you
2 could get blood products from the mainland without
3 testing. Sorry. Dr. Hollinger.

4 **DR. HOLLINGER:** Yeah, so I'll put on one of my
5 other hats. When I was at the CDC many years ago, I
6 was in the arbovirus infections unit, subsequently, as
7 the assistant chief of that unit; so, I've sort of
8 maintained an interest in these diseases all along.

9 But one of the issues is that, as you
10 mentioned, looking at the United States particularly,
11 taking Puerto Rico out of the picture for a minute, is
12 that almost all the cases, or most of the cases we see,
13 are travel related, with just a few locally acquired
14 infections, mostly in Florida, as it was for
15 chikungunya, as it was for dengue, and so on, and then
16 some in Texas where I'm from.

17 So, we haven't seen much. And I've always
18 felt -- this is the way it is. If you understand
19 mosquitoes and particularly the Aedes mosquito, that it
20 doesn't travel very far, it stays within close confines
21 and so on, that was not going to be a real issue. It's

1 never really gotten into the stages where we have major
2 outbreaks.

3 So now you have Zika with a very little
4 locally acquired disease, mostly travel deferral.
5 Which is why I mentioned, and the slide which Mike
6 Busch put up about all these different diseases around.
7 If you look at most of those diseases, again, they all
8 have a very short viremic period and that travel
9 deferral is a good thing for us to think about. It may
10 not be for this meeting, but it certainly, as a
11 universal requirement, might be very good in times of
12 eliminating these diseases; particularly Zika, in which
13 most of it is travel-acquired. So, for me, I'm very
14 much toward option three or option two here.

15 I'm very empathetic with the companies that
16 have spoken here, particularly Roche, Grifols, and so
17 on, because they have come through and produced these
18 at a time when we really needed it to have produced
19 these excellent tests in a fairly short period of time.
20 But we sometimes have to set that aside because that's
21 a commercial issue. It's a cost issue. And again, the

1 costs of doing these tests are relatively expensive,
2 adding to the cost of blood in this country. And we
3 need to, I think, think of that.

4 Again, going back to my question, the question
5 doesn't cost anything, but doing testing does. It
6 doesn't mean you're going to pick up everybody and, as
7 Michael said, you might not remember if you traveled
8 there. That's why I take my wife with me when I go
9 donate blood, so she can tell me if I have been out of
10 the country 30 days ago.

11 But, other than that, I do think that it is --
12 sometimes you have to decide. And I think you brought
13 it up very well, is whether we have to continue on with
14 all these tests when we don't seem to have an issue
15 there. And so maybe the best approach would be a
16 regional approach and one could actually just do it in
17 Florida. They seem to be the sentinel animal.

18 I remember with, and again, in arboviruses,
19 St. Louis encephalitis, for example, we had sentinel
20 chickens out to determine. Now, in many cases, there's
21 a lot of surveillance for mosquitoes and so on. It's

1 still the travel-associated cases or travel-acquired
2 cases that are going to be an issue. But you can
3 determine, and there are surveillance going on in
4 mosquitoes, to determine when they are infected. And
5 that usually occurs several months before
6 transmissions.

7 This is something and one could just do it in
8 one or two states, which particularly Florida would be
9 sort of the sentinel animal, if you will, in
10 determining whether there are cases coming in and where
11 that's a real big issue. And then decide what you're
12 going to do after that. So that's sort of where my
13 take on it is right now.

14 **DR. KAUFMAN:** Dr. Shapiro.

15 **DR. SHAPIRO:** How much does this test add to a
16 unit of blood? What's the actual cost?

17 **DR. KAUFMAN:** I don't know. Dr. Bloch, do you
18 want to comment on this?

19 **DR. BLOCH:** It's about \$7 to \$10. I don't
20 know the most up-to-date; but at least in the
21 publications, it's been about \$7 to \$10.

1 **DR. KAUFMAN:** And there have been different
2 ways to look at this. Let me first say I'm not an
3 economist at all. One of the ways that people have
4 looked at this question of cost-effectiveness has to do
5 with how many quality adjusted life years do you save
6 by doing testing, with the idea that something that
7 cost like fifty or a hundred thousand dollars per
8 quality is cost effective. That would be like a stem
9 cell transplant or heart surgery.

10 The testing that we do for blood is
11 extraordinarily sensitive and specific, and the costs
12 are way out of proportion to other things in medicine.
13 So, HIV NAT, for example, is a million dollars per
14 quality or \$10 million per quality, something like
15 that. And for Zika quite a bit more than that,
16 actually. So anyway, that's what we can say.

17 Now there's a couple different ways of looking
18 at that. One is well, blood, in a sense, can be
19 thought of as qualitatively different. That is the
20 view of the public is -- and it's not something that
21 can be so easily quantitated. There's an emotion about

1 it, that you want to be confident that when you get a
2 unit of blood that it's going to be safe and will be
3 helpful and not harmful and that sort of thing. So,
4 there's been a willingness for society to pay more for
5 this. But that's sort of what we're talking about.

6 I'm sorry. Dr. Lewis? No.

7 **DR. DEMARIA:** The question about regional
8 testing came up particularly with the babesiosis. It
9 really brought home the fact that small differences in
10 blood product prices can drive the market, and that
11 healthcare delivery systems will look for the least
12 expensive source of blood. And that's a reality. It
13 raises all kinds of issues when you talk about adding a
14 little bit of expense in one part of the country versus
15 another. So, I think that's real. It's unfortunate,
16 but it's real.

17 The other thing is that I was involved in a
18 public engagement discussion about babesiosis, testing
19 for babesia. It was interesting to me that after
20 extensive discussion, and I alluded to this before,
21 that people do not feel it's fair to test in one part

1 of the country and not in another part of the country
2 if there's any chance that the risk could exist in that
3 other part of the country.

4 And we were talking about babesiosis -- a very
5 small risk that someone outside of the endemic area
6 would just happen to have babesia swimming around in
7 their blood at the time they donated. But that was
8 enough to make the almost unanimous decision at this
9 public engagement group that either we should test
10 everywhere, or we should test nowhere. It was that
11 decisive.

12 **DR. KAUFMAN:** Yeah, I mean so there was a
13 large risk-based decision-making exercise that went on
14 about this. I think there was -- everyone, again, was
15 trying to do, okay, what would be the most logical
16 approach for something like babesia which is in the
17 northeast and the upper Midwest and that's about it --
18 New York, New Jersey.

19 And so the blood collectors would say, well,
20 that's fine. We won't collect any blood in New
21 England. Well, you know, collect more in the Midwest,

1 that sort of thing. It was going to sort of change how
2 blood was collected, and this issue still hasn't really
3 been resolved.

4 Sorry. Why don't we start with Sue Stramer
5 and then Dr. Lewis and then Dr. Basavaraju. Sue, go
6 ahead.

7 **DR. STRAMER:** I didn't have any comments to
8 make right now, but as long as you called on me; to
9 respond to Dr. DeMaria's and your comments about the
10 risk-based decision making on babesia, that really
11 isn't the topic for today. I understand why Al brought
12 it up regarding public perception. But the overall
13 group decision for risk-based decision making for
14 babesia was regional testing and that's been published.

15 But to go to the issue of the day, which is
16 Zika, as I mentioned earlier, there is no consensus
17 opinion from industry. We feel that there are a lot of
18 pros and cons to each of the three strategies that FDA
19 proposed.

20 But just to give some examples; some imported
21 Zika cases still occur in Florida. In 2018 there have

1 been 103, eight in 2019. So, we still see cases in the
2 United States.

3 We need additional time to determine if a
4 regional testing model versus the total elimination of
5 testing is the way to go. As I mentioned, there is no
6 consensus as of yet. Minipool NAT has been stable to
7 date. In one additional year or so, minipool NAT
8 should provide adequate data for further discussions of
9 a future direction. And as mentioned by our test
10 manufacturers, an abrupt elimination of testing would
11 be problematic if we ever wanted to bring up testing
12 again. And it really sends a mixed message to the
13 manufacturers who work tirelessly to bring us testing
14 as a priority and then we implement testing, and then
15 we automatically or abruptly dismiss it. So, I think
16 it would be hard for them to remain our partners
17 without more serious discussion of this topic.

18 **DR. KAUFMAN:** Thank you. Dr. Lewis.

19 **DR. LEWIS:** So, two comments. One has to do
20 with the flexibility of responsiveness of our
21 regulatory approach to blood safety. I heard comments

1 earlier supporting and applauding the re-evaluation of
2 the need for the test and the changing epidemiology. I
3 think the chairman made the point that we tend to add
4 things. We tend not to take them away, even when the
5 original motivation for adding them may have waned.

6 I think that it is internally inconsistent, as
7 just a decision approach to risk mitigation, if we
8 consider one type of variability, like the changing
9 epidemiology of the epidemic over time, and not another
10 variability, which is the risk associated with
11 geographic location.

12 So, with respect to the prior speakers, I
13 don't think it's rational for us to arbitrarily say
14 we'll ignore risk and changes in risk in one dimension,
15 but not another. So, if we're going to consider that
16 the epidemiology and the epidemic is changing, and if
17 the actual quantitative risk is changing, we should be
18 willing to consider different strategies based on the
19 region in which the blood is donated or other
20 characteristics that we can objectively use to estimate
21 risk.

1 That's one comment. A different comment has
2 to do with quantification of risk. And I was, in my
3 mind, trying to think about the risk of the no testing
4 strategy even in an area in which the prevalence of the
5 virus in the donating population might be nearing a
6 percent. I'm going through the risk that they donate
7 during the viremic period that it's transmitted that a
8 woman relatively early on in pregnancy -- I'm being
9 intentionally vague in that-- receives a blood
10 transfusion.

11 I realize there are high-risk populations. I
12 work in a county trauma center. We frequently find
13 ourselves giving blood to women who we subsequently
14 find out were pregnant, a surprise to us and often to
15 the patient. But that's not a common event as compared
16 to all women who are pregnant and deliver.

17 Significant congenital abnormalities are a not
18 uncommon event. And I'm wondering if anybody has
19 actually calculated the marginal increase in risk in
20 this strategy compared to just the risk of having a
21 baby and the risks that are associated with congenital

1 anomalies that we see.

2 I'm trying to quantify the marginal risk
3 associated with a no testing strategy in an absolute
4 sense. And I'm struggling with it because I don't have
5 all the numbers on the top my head.

6 **DR. KAUFMAN:** Yeah, I don't know that I can
7 give you precise numbers. There was a little bit of
8 information in the packet something like relatively few
9 -- let's say, I don't know, 5 per 10,000 something like
10 that is something like a typical rate of microcephaly
11 seen. So, something in that ballpark, something in the
12 range of 1 out of 1000 or 1 out of the 10,000 babies,
13 but ballpark, is what sort of the expected background
14 is.

15 **DR. LEWIS:** Yeah. The key number I had
16 trouble figuring out where we would find is the
17 fraction of all women who are transfused in other than
18 the peripartum period. And I think that's a key piece
19 of information that would be useful to know.

20 **DR. BASAVARAJU:** Actually, I had two like
21 technical questions, I guess, logistic questions for

1 FDA. So, the first is when the committee is
2 considering the changes to the screening strategy, my
3 understanding is that we have to consider the
4 scientific and technical merits of screening, but the
5 cost of the screening should not figure into that. Is
6 that correct? Yeah.

7 So, the second question then for FDA is if the
8 committee was to vote that screening could be done
9 regionally, could we leave the regional strategy itself
10 up to FDA to determine based on -- so like for now, it
11 could be certain states then add certain states later,
12 remove certain states later? And so the committee
13 would just vote, screening everywhere, screening
14 nowhere, screening in some places?

15 **DR. VERDUN:** Yes, that's fine. You can
16 consider a regional testing option and leave the
17 specifics to FDA as an option as well.

18 **DR. STAPLETON:** And so, given that you'd be
19 testing fewer units if you adapted a regional policy,
20 technically you'd be increasing your predictive value
21 of the tests as well. Correct?

1 **DR. KAUFMAN:** Yeah.

2 **DR. CHITLUR:** Hi, this is Meera Chitlur.

3 **DR. KAUFMAN:** Yes, please, go ahead.

4 **DR. CHITLUR:** My question was actually exactly
5 what the previous speaker had brought up regarding, I
6 think, a couple things. One is that the Indian
7 outbreak occurred very recently. And travel is so
8 common and so easy and it's still a significant risk.
9 So, is it too early for us to consider discontinuing,
10 like many of the speakers so far have mentioned? And I
11 think the economic and health care costs of caring for
12 a child or a baby with microcephaly and developmental
13 issues for the rest of their life really have to play a
14 role in the decision that we make.

15 **DR. KAUFMAN:** No, I think that's -- thank you
16 -- I think that's a fair point. You know, as has been
17 mentioned, most people that get Zika are completely
18 asymptomatic. There have been some cases of
19 Guillain-Barré that have been associated, but it's
20 really the risk to the fetus that drives the entire
21 kind of thinking around the discussion.

1 **DR. CHITLUR:** And I think, coming from -- as
2 a hemophilia treater, this sort of is similar to me to
3 the history that we've had. I know it's not exactly
4 the same. I know it's kind of different, but in some
5 ways, it is similar. The risks are associated with
6 transfusions with blood products that are considered to
7 be safe by the public and are not paying enough
8 attention maybe to this should not bring us back to
9 where we were with the hemophilia population in the
10 past.

11 **DR. KAUFMAN:** So okay. I'll just be the
12 devil's advocate a little bit. So, they've been no --
13 we learned that there have been zero confirmed
14 positives from blood donors for the past year. Would
15 anyone be comfortable with just stopping screening all
16 donors? Dr. DeMaria.

17 **DR. DEMARIA:** I thought it would be, because
18 it seemed to me that what we did know about Zika
19 suggested that whatever happens, and it may happen
20 again but was totally unpredictable. But I think that
21 totally unpredictable now makes me think that we should

1 continue until we're sure that the risk is gone.

2 And if we go to a regional -- you know,
3 Massachusetts wasn't on the list of states that had a
4 higher risk, yet we had two confirmed positive donors.
5 And part of that is yeah, California, New York, Florida
6 are on the list, but those are also the states have
7 large numbers of people traveling. We have a smaller
8 number of people traveling in Massachusetts, but that
9 doesn't mean proportionately that we might not have as
10 significant a risk as many people.

11 Because what's different with Zika from West
12 Nile is that West Nile, there was a risk across the
13 whole country. And it was the birds who are presenting
14 the risk, not the people traveling. So, I think this
15 is a different circumstance.

16 I don't think we have parts of this country,
17 even in Texas and Florida, that are really at the same
18 level of risk as where Zika has occurred elsewhere,
19 because people -- lifestyles are different, screens are
20 different, the air conditioning is different.

21 So, you know that, again, to me speaks against

1 having a regional approach because I think it's the
2 same as saying stop doing it. We don't know what's
3 going to happen with people traveling. We don't know
4 the relative risk of traveling to India, if it becomes
5 widespread in India, is for states that aren't Florida,
6 Texas, and California.

7 **DR. KAUFMAN:** Thanks. Dr. Shapiro.

8 **DR. SHAPIRO:** I think one of the things that
9 concerns me about stopping testing is not just the
10 issues related to how frequent do you find positives,
11 but the lack of surveillance that would then occur.
12 There's no organized surveillance in this country for
13 looking at recipients of blood products or individuals
14 in particular endemic areas.

15 So how are we to know what might happen? We
16 can look at past data, but you're talking about an
17 infectious disease that you really have very little
18 control over whether it recurs or not.

19 **DR. KAUFMAN:** Dr. Bloch and then Dr.
20 Hollinger.

21 **DR. BLOCH:** So, I actually completely agree;

1 but just to play devil's advocate, you know, is that
2 the role of the blood collection agencies to be
3 conducting public health surveillance? So, it's kind
4 of a different role. So, I absolutely think it's an
5 incredible resource for surveillance, but it's not
6 primarily what they're supposed to be doing.

7 **DR. SHAPIRO:** I agree with you. It's not
8 their role, but you're charging for a product that
9 you're giving to a patient, and the patient has a sense
10 of safety. I'm sorry, what Dr. Chitlur said, I don't
11 think HIV is the same thing. No, I agree with you,
12 Meera. I'm supporting you. I think there are lessons
13 learned there, and I think surveillance of some sort --
14 it may not be your role, but if that's the only method
15 we have so far that's set up, then I think that's where
16 it falls.

17 **DR. BLOCH:** But then why aren't we testing for
18 other agents?

19 **DR. SHAPIRO:** For example?

20 **DR. BLOCH:** For anything. You know, dengue,
21 chikungunya. There's a whole -- one could screen for

1 the whole gamut of agents. So, like I'm torn because I
2 do absolutely agree with you that it is an incredible
3 resource for that. But is the question, is testing
4 protecting, offering some sort of benefits to
5 recipients? By our doing surveillance is not
6 necessarily impacting those recipients. Indirectly, it
7 might.

8 **DR. SHAPIRO:** I think it might, because --

9 **DR. STRAMER:** Can I --

10 **DR. KAUFMAN:** Yes, Sue, go ahead.

11 **DR. STRAMER:** Sorry. It's hard because I'm
12 not there when I can speak in turn. But anyway, to
13 support Dr. Bloch's statements, although blood donation
14 screening is used in many degrees to monitor
15 surveillance of infectious disease agents in the United
16 States.

17 I mean, their justification to maintain Zika
18 blood donation screening because it's useful for
19 surveillance is not a good argument; or it's not, I
20 should say, a valid argument. I mean, we're not
21 reimbursed for this activity. It costs the blood

1 organizations millions of dollars.

2 So, although, we as an industry do not support
3 discontinuation of testing now. It's really not for
4 the purposes of ongoing surveillance, but it's for the
5 purposes of the unknown and to take time to develop
6 what the best strategy long term is.

7 **DR. SHAPIRO:** And to determine that, you need
8 surveillance.

9 **DR. STRAMER:** Without question, but I mean
10 it's the default to have the blood centers do it. It's
11 difficult because, if you calculate \$7 to \$10 a unit to
12 transfuse, we are paying for that. It's not a U.S.
13 public health service activity, which it should be.

14 But I mean, I understand where the rubber
15 meets the road. I mean, we are doing the testing. And
16 we're the only ones who will monitor infection in
17 asymptomatic individuals. I get that. It's just
18 problematic because that's not our primary job and
19 that's not how we recover our costs.

20 **DR. KAUFMAN:** Dr. Hollinger.

21 **DR. HOLLINGER:** Well, a couple of things.

1 Mike Busch mentioned before that they're doing some
2 surveillance studies down in Brazil and other areas,
3 which I think will be very important since they're
4 about half -- they're the summer when we're the winter
5 and so on. So that's important.

6 I think I'd like to just briefly read into the
7 record a little bit about what Brian Custer said in the
8 thing that was passed out to us. So, I want to just be
9 sure it gets in. Mike sort of passed over it, but he's
10 one of the authors of a paper that came out in the
11 *Annals of Internal Medicine* in January of this year.

12 But they looked at some of the strategies and
13 one of the things, if we just look at option two. In
14 option two he says, "Targeted screening was far more
15 efficient than universal testing," when they looked at
16 those at that particular option.

17 And then he looked at like topic three and he
18 states, "We estimated that the harms that would have
19 resulted if no testing had been in place for Zika
20 during the period of our analysis would have resulted
21 in several transfusion-transmitted Zika infections: 242

1 in Puerto Rico, 45 in the 50 states. Most of them,
2 however, would have been asymptomatic."

3 He said, "We estimate that serious sequela
4 would have been very unlikely. It is easier to
5 conceptualize the likelihood of these events as
6 following: If Zika activity had remained at the level
7 observing in our period of analysis year over year, we
8 would expect the transfusion-transmitted Zika to cause
9 one Guillain-Barré syndrome case in Puerto Rico every
10 16 years, one Guillain-Barré syndrome case in the 50
11 states every 84 years, one congenital Zika syndrome
12 case in Puerto Rico every 33 years, and one congenital
13 Zika syndrome case in the 50 states every 176 years.
14 Because Zika activity has decreased dramatically since
15 the period of our analysis, the risk of these serious
16 adverse events today is essentially zero."

17 **DR. BASAVARAJU:** Yeah, I think that you have
18 to be careful when we read this and kind of take it for
19 what it is. You know, a lot of this is just based on
20 the inputs into the model, so if you have a growing
21 epidemic somewhere, it's not recognized. You have a

1 lot of return travelers. I think, you could -- you
2 know that's one issue. So, I think that there could be
3 more than what is being estimated here.

4 The other issue is let's say theoretically
5 that's true that, there is one congenital Zika case
6 every whatever how many years. I think the optics of
7 that would be really poor if that one case occurred
8 next week after you discontinued screening.

9 So, I think there's ongoing transmission in
10 the Western Hemisphere. There are large numbers of
11 return travelers from the Western Hemisphere, other
12 elsewhere in the Western Hemisphere. And you have a
13 mechanism in place right now to identify those people
14 who -- and, you know, the fact that it hasn't happened
15 in a few months or whatever doesn't mean it's not going
16 to happen anytime really.

17 **DR. KAUFMAN:** Let's have Dr. Schreiber and
18 then Dr. Stapleton.

19 **DR. SCHREIBER:** So, I agree with those
20 statements, and I would add unless I have this wrong,
21 I'm less worried about Texas and Florida and

1 California. I'm more worried about the naive
2 populations that have never been -- had any exposure in
3 the rest of those surrounding states who are actually
4 potentially at greater risk for an epidemic because
5 they've never been exposed to the virus.

6 So, this regional approach, focusing on states
7 where disease has occurred, makes absolutely no sense
8 to me because I'm more worried about the states where
9 there has been no exposure. To me, there's no reason
10 why this disease would happen in Texas but would not
11 happen in a place like Louisiana or other parts of the
12 South or other areas near California.

13 So, we've been told that the risk of epidemic
14 is real. If that epidemic occurs, it'll most likely
15 occur an area where the people are naive to the virus.
16 The effects of this disease are devastating in the
17 fetuses of pregnant women. I feel -- that's how I feel
18 about it.

19 **DR. KAUFMAN:** Thank you. Dr. Stapleton.

20 **DR. STAPLETON:** Thanks. Dr. Schreiber gave me
21 a couple more things to mention. When I first saw this

1 topic I thought, oh, it's time to stop this because I
2 think Zika is going to be like SARS, and it's gone.
3 And hopefully in two years that I'll feel that I was
4 right in my initial assessment. But I think the fact
5 that there are ongoing infections in the Western
6 Hemisphere and a new epidemic last fall in India, that
7 we've been told is under control but that doesn't
8 reassure me yet. So, what we need, as somebody
9 mentioned, is a crystal ball; and I don't think I feel
10 confident we have one.

11 I want to also mention about surveillance;
12 there's intense surveillance of mosquitoes, and that's
13 being done. And also in Central America and South
14 America there are many studies looking at surveillance
15 of febrile illness similar to this and trying to sort
16 out with this. So, I feel a little less worried about
17 losing the blood supply which is, as the surveillance
18 has told us, that there isn't anything to worry about.
19 I think we're more likely to pick it up quicker through
20 mosquito and endemic area surveillance and regions that
21 are at higher risk than Texas and yet have not had much

1 disease yet.

2 And then finally about seroprotection, I think
3 that's an open question. To my knowledge, the
4 incidence of antibody prevalence in any of the United
5 States, outside of the territories, is not reassuring
6 that we're not all at risk for that.

7 **DR. HOLLINGER:** Let's talk a little bit about
8 seroprevalence in the waning of the antibody. We know
9 that most diseases, if you follow them, I can tell you
10 for hepatitis B and C and so on, you see them over
11 time. We looked at them 25 years or 30 years later,
12 and their antibodies are gone but they don't get the
13 disease. There are great memory B cells still present.
14 There are good responses immediately to infection. So,
15 I'm not sure that I'm concerned about that in that
16 area.

17 **DR. STAPLETON:** Not so much loss of antibody
18 and being susceptible. I think yellow fever shows
19 that. But I think the idea that, right as of now,
20 nowhere in the U.S. has significant seroprevalence
21 exposure to Zika so that we are a susceptible

1 population.

2 **DR. KAUFMAN:** I think that's true, although,
3 the vectors -- well, supposedly, the *Aedes aegypti*
4 mosquito can be as far north as here, maybe a little
5 farther. But it seems like the -- well, as Dr.
6 Hollinger mentioned, it doesn't fly so well. And I
7 think they don't go more than a mile from where they
8 were born kind of thing. It's not like the birds in
9 the case of West Nile. But for whatever reason we've
10 just not seen anything so far outside of these tiny
11 little pockets in the very Deep South. I'm sorry. Go
12 ahead.

13 **DR. DEMARIA:** And I think the other aspect of
14 this is you have to have infected humans to have a
15 reservoir for the virus. So, the force of infectivity
16 also depends on how many people in that population are
17 infected and can infect mosquitoes who can then pass
18 the infection.

19 And that would presume that you're in a
20 circumstance where you would have enough people around
21 to sustain transmission. Even in the parts of the

1 country where *Aedes aegypti* occurs and where people
2 might be susceptible, you'd also have to have
3 widespread transmission to have widespread
4 transmission.

5 **DR. HOLLINGER:** Yeah, I think it's important
6 also to point out that the *albopictus* which has moved
7 up -- I don't know if -- I don't think there's any
8 *Aedes* in Iowa, Jack.

9 **DR. STAPLETON:** Northern Missouri.

10 **DR. HOLLINGER:** But certainly, the *albopictus*
11 vectorially is not a very efficient transmitter of this
12 disease. It's not true for dengue and a few others,
13 but --

14 **DR. KAUFMAN:** All right. So, does the group
15 feel like -- I'll ask for any remaining comments and
16 then we're going to need to vote. So, but go ahead,
17 Dr. Bloch.

18 **DR. BLOCH:** Sorry. Are they not two different
19 problems? Because there's no doubt that it's highly
20 prevalent and extrapolating from other arboviruses, the
21 chances are that it probably will resurge at some

1 point. There will be ongoing outbreaks. But the
2 question is more about risk to transfusion recipients,
3 which is not really being demonstrated. So, it seems
4 to me two different issues.

5 **DR. KAUFMAN:** So, there is another part. So,
6 we think about risk of infection from -- so first,
7 what's the risk of an infectious unit being donated and
8 getting into the blood supply? And then what is the
9 risk of a recipient getting that infectious unit? But
10 that's right. I mean, the fact is no one's gotten sick
11 from Zika from a transfusion to date that we know of.

12 The best-case reports came from Brazil. There
13 were a few patients who got platelet transfusions and
14 perhaps, not surprisingly, since most of the people get
15 infected by the mosquito are asymptomatic, but we have
16 not seen disease. But that does kind of factor into
17 the risk calculations. I'm sure that was part of the
18 thinking of that model that Brian Custer came up with.
19 What's the real thing we're trying to prevent? This is
20 different than getting an HIV-infected unit, for
21 example, but anyway.

1 Dr. Lewis.

2 **DR. LEWIS:** So, there is a study of blood
3 transfusion during pregnancy that separates out the
4 transfusion rate in the different periods of pregnancy
5 and states that from the period of 2001 to 2010 that
6 the transfusion rate across pregnancy including the
7 delivery period was 1.4 percent. And of that, 91
8 percent occurred during the birth admission. So that
9 leaves 9 percent, so about 0.13 percent of women
10 received transfusion during pregnancy, not during
11 delivery. And I'm just making the assumption that by
12 delivery, it's too late for there to be damage. So, I
13 think that gives us some quantification of the risk.
14 So, between 1 in 500 and 1 in 1,000 women in earlier
15 pregnancy will be transfused, if I did the math right.

16 **DR. KAUFMAN:** I think, like with CMV, I think
17 the biggest worry is about kind of the first trimester,
18 but there's -- I think the data are clear, but there
19 seems to be some risk later in pregnancy as well, maybe
20 even into the early third trimester. But I think most
21 of the risk is thought to be early.

1 All right. So, we're going to ask everyone to
2 vote. So, we're going to vote by using these buttons
3 that are on the microphones in front of you. I believe
4 this is not a secret ballot. It's a simultaneous
5 ballot, but I think everyone's votes will be read
6 aloud.

7 So, first question: At this time do the
8 available data support continuing universal testing for
9 Zika using minipool or ID NAT as recommended in the
10 July 2018 final guidance? That is no policy change at
11 this time, option one. So, everyone please vote for
12 yes, no, or abstain.

13 Everybody vote? Okay. And, Meera, can I ask
14 you to announce your vote, please?

15 **DR. CHITLUR:** Hi. I'm sorry. Can you say
16 that again?

17 **DR. KAUFMAN:** Yes. I need to ask if you can
18 announce your vote for question one.

19 **DR. CHITLUR:** For question one, I would like
20 testing to continue as is.

21 **DR. KAUFMAN:** Okay. Thank you.

1 **DR. P. ATREYA:** Okay. So, I'm going to read
2 out the votes. Eleven yes, four nos. And then, Nick,
3 if you can display, I can read them aloud.

4 Okay, I'll start from Dr. Hollinger said no;
5 Dr. DeVan, yes; Dr. Kindzelski, yes; Dr. Shapiro, yes;
6 Dr. Ortel, yes; Dr. Lewis, no; Dr. Basavaraju, no; and
7 Dr. Stramer is not a non-voting member. Dr. Kaufman,
8 yes; Dr. Chitlur, yes; Dr. Schreiber, yes; Dr. Baker,
9 yes; Dr. Bloch, no; Dr. Stapleton, yes; Dr. DeMaria,
10 yes; Dr. Bryant, yes. So, it's 11 out of 16 [sic] yes
11 and 4 nos.

12 **DR. KAUFMAN:** Okay, so second question: Do
13 the available data support a regional testing option
14 strategy for Zika virus using minipool or individual
15 donation NAT in at-risk U.S. states and territories?
16 Option two.

17 And, Meera, can you announce your vote,
18 please?

19 **DR. CHITLUR:** My vote is no.

20 **DR. P. ATREYA:** Total votes are 15 and six
21 yeses, nine nos, and zero abstain. For the public

1 record, I will read it aloud. Dr. Hollinger said yes;
2 Dr. DeVan said no; Dr. Kindzelski said no; Dr. Shapiro
3 said no; Dr. Ortel said no; Dr. Lewis said yes; Dr.
4 Basavaraju said yes; Dr. Kaufman said no; Dr. Chitlur
5 said no; Dr. Schreiber said no; Dr. Baker said yes; Dr.
6 Bloch said yes; Dr. Stapleton said yes; Dr. DeMaria
7 said no; and Dr. Bryant said no. Thank you.

8 **DR. KAUFMAN:** So, question two: Florida,
9 Texas, Puerto Rico. So, this would be various regional
10 options. Do you support doing regional testing in
11 Florida, Texas, and Puerto Rico, the U.S. Virgin
12 Islands where documented local mosquito-borne Zika
13 virus transmission has occurred? And (b) California,
14 New York where the mosquito vectors are present. And
15 hang on one second, please.

16 We'll move to question three. Do the
17 available data support the elimination of all testing
18 for Zika virus without reintroduction of donor
19 screening for risk factors -- for example, travel -- in
20 areas with no risk of Zika virus infection pending
21 another outbreak in United States? Option three.

1 **DR. KAUFMAN:** Dr. Chitlur?

2 **DR. CHITLUR:** My vote is no.

3 **DR. P. ATREYA:** Okay, for the public record,
4 the total votes are 15. Fourteen no and one yes and
5 zero abstain.

6 Okay. For the record, Dr. Hollinger said yes;
7 Dr. DeVan said no; Dr. Kindzelski said no; Dr. Shapiro
8 said no; Dr. Ortel said no; Dr. Lewis said no; Dr.
9 Basavaraju said no; Dr. Kaufman said no; Dr. Chitlur
10 said no; Dr. Schreiber said no; Dr. Baker said no; Dr.
11 Bloch said no; Dr. Stapleton said no; Dr. DeMaria said
12 no; and Dr. Bryant said no. Thank you.

13 **OPEN SESSISON TOPIC I ADJOURNED**