

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ONCOLOGIC DRUGS ADVISORY COMMITTEE (ODAC) MEETING

Tuesday, May 14, 2019

Morning Session

8:06 a.m. to 11:50 a.m.

FDA White Oak Campus
White Oak Conference Center
Building 31, The Great Room
10903 New Hampshire Avenue
Silver Spring, Maryland

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Jennifer Shepherd, RPh**

4 Division of Advisory Committee and Consultant

5 Management

6 Office of Executive Programs, CDER, FDA

7

8 **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)**

9 **Massimo Cristofanilli, MD, FACP**

10 *(Morning Session Only)*

11 Associate Director of Translational Research and

12 Precision Medicine

13 Robert H. Lurie Comprehensive Cancer Center

14 Chicago, Illinois

15

16 **Susan Halabi, PhD**

17 Professor of Biostatistics and Bioinformatics

18 Duke University Medical Center

19 Durham, North Carolina

20

21

22

1 **Philip C. Hoffman, MD**

2 Professor of Medicine

3 The University of Chicago

4 Section of Hematology/Oncology

5 Department of Medicine

6 Chicago, Illinois

7

8 **Heidi D. Klepin, MD, MS**

9 Associate Professor of Internal Medicine

10 Section of Hematology and Oncology

11 Wake Forest University Health Sciences

12 Winston Salem, North Carolina

13

14 **Grzegorz Nowakowski, MD**

15 Associate Professor of Medicine and Oncology

16 Division of Hematology

17 Mayo Clinic Rochester

18 Rochester, Minnesota

19

20

21

22

1 **Courtney J. Preusse, MA**

2 *(Consumer Representative)*

3 Senior Research Administrator

4 Clinical Research Division

5 Fred Hutchinson Cancer Research Center

6 Seattle, Washington

7

8 **Brian I. Rini, MD, FACP**

9 *(Chairperson)*

10 Professor of Medicine, Lerner College of Medicine

11 Leader, GU Program

12 Department of Hematology and Oncology

13 Cleveland Clinic Taussig Cancer Institute

14 Cleveland, Ohio

15

16

17

18

19

20

21

22

1 **Thomas S. Uldrick, MD, MS**

2 Deputy Head, Global Oncology

3 Associate Member, Vaccine and Infectious Disease
4 and Clinical Research Divisions

5 Fred Hutchinson Cancer Research Center

6 Associate Professor of Medicine

7 University of Washington

8 Seattle, Washington

9
10 **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBER**

11 **(Non-Voting)**

12 **Phuong Khanh (P.K.) Morrow, MD, FACP**

13 *(Industry Representative)*

14 Executive Medical Director, Amgen Oncology

15 Therapeutic Area Head, US Medical Organization

16 Thousand Oaks, California

17
18 **TEMPORARY MEMBERS (Voting)**

19 **Susan Broyles**

20 *(Patient Representative; Morning Session Only)*

21 Aledo, Texas

22

1 **Karim Anton Calis, PharmD, MPH, FASHP, FCCP**

2 *(Morning Session Only)*

3 Director of Clinical Research and Compliance

4 Office of the Scientific Director

5 *Eunice Kennedy Shriver* National Institute of Child

6 Health and Human Development

7 National Institutes of Health (NIH)

8 Bethesda, Maryland

9

10 **Sally Hunsberger, PhD**

11 Biostatistician

12 National Institute of Allergy and

13 Infectious Diseases, NIH

14 Rockville Maryland

15

16 **Valerae O. Lewis, MD**

17 *(Morning Session Only)*

18 Professor and Chair,

19 Department of Orthopaedic Oncology

20 John Murray Professor in Orthopaedic Oncology

21 The University of Texas M.D. Anderson Cancer Center

22 Houston, Texas

1 **Doris Strader, MD**

2 *(Morning Session Only)*

3 Professor, Department of Medicine

4 Department of Gastroenterology & Hepatology

5 The Robert Larner, MD College of Medicine

6 University of Vermont

7 Burlington, Vermont

8

9 **Wayne Taylor**

10 *(Patient Representative; Afternoon Session Only)*

11 Hudson, Florida

12

13 **Victor M. Villalobos, MD, PhD**

14 *(Morning Session Only)*

15 Medical Director, Cancer Clinical Trials Office

16 Director, Sarcoma Oncology

17 Director, Molecular Oncology Therapeutics

18 Assistant Professor of Medicine

19 University of Colorado Denver - Anschutz Medical

20 Campus

21 Aurora, Colorado

22

1 **Kevin Weinfurt, PhD**

2 *(Morning Session Only)*

3 Professor and Vice Chair of Research

4 Department of Population Health Sciences

5 Duke University School of Medicine

6 Durham, North Carolina

7

8 **FDA PARTICIPANTS (Non-Voting)**

9 **Richard Pazdur, MD**

10 Director, Oncology Center of Excellence, FDA

11 Acting Director, Office of Hematology &

12 Oncology Products (OHOP)

13 Office of New Drugs (OND), CDER, FDA

14

15 **Patricia Keegan, MD**

16 *(Morning Session Only)*

17 Director Division of Oncology Products 2 (DOP2)

18 OHOP, OND, CDER, FDA

19

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Ashley Ward, MD

(Morning Session Only)

Acting Supervisory Associate Director

DOP2, OHOP, OND, CDER, FDA

Lola Fashoyin-Aje, MD, MPH

(Morning Session Only)

Cross-Discipline Team Leader

DOP2, OHOP, OND, CDER, FDA

Christy Osgood, MD

(Morning Session Only)

Clinical Reviewer

Melanoma/Sarcoma Team

DOP2, OHOP, OND, CDER, FDA

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Mallorie Fiero, PhD

(Morning Session Only)

Statistical Reviewer

Division of Biometrics V (DBV)

Office of Biometrics (OB)

Office of Translational Sciences (OTS)

CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Brian Rini, MD, FACP	13
5	Conflict of Interest Statement	
6	Jennifer Shepherd, RPh	16
7	FDA Introductory Comments	
8	Lola Fashoyin-Aje, MD, MPH	20
9	Applicant Presentations - Daiichi Sankyo	
10	Introduction	
11	Eric Richards, MS, MPH	32
12	TGCT Disease Background and Treatment	
13	Landscape	
14	Nicholas Bernthal, MD	38
15	Development Program and Efficacy	
16	William Tap, MD	46
17	General Safety Assessment of Pexidartinib	
18	Antoine Yver, MD, MSc	56
19	Hepatic Safety	
20	Laurie DeLeve, MD, PhD	61
21		
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	Risk Evaluation and Mitigation Strategy	
4	Eric Richards, MS, MPH	69
5	Clinical Perspective	
6	William Tap, MD	73
7	FDA Presentations	
8	Background and Safety Results and Issues	
9	Christy Osgood, MD	76
10	Efficacy Results and Issues	
11	Mallorie Fiero, PhD	81
12	Safety Evaluation	
13	Christy Osgood, MD	95
14	Clarifying Questions	112
15	Open Public Hearing	143
16	Clarifying Questions (continued)	159
17	Questions to the Committee and Discussion	172
18	Adjournment	209
19		
20		
21		
22		

1 P R O C E E D I N G S

2 (8:06 a.m.)

3 **Call to Order**

4 **Introduction of Committee**

5 DR. RINI: Good morning everyone. Sorry for
6 the tardiness. I'd like to remind everyone to
7 please silence your cell phones, smartphones, or
8 any other devices if you've not already done so.
9 I'd also like to identify the FDA press contact,
10 Amanda Turney.

11 Amanda, if you are present, please stand.
12 Thank you.

13 My name is Brian Rini. I'm the chairperson
14 for this meeting. I'll now call the morning
15 session of today's meeting of the Oncologic Drugs
16 Advisory Committee to order. We'll start by going
17 around the table to introduce ourselves, and we'll
18 start with the FDA to my left and go around the
19 table.

20 DR. PAZDUR: Richard Pazdur, FDA.

21 DR. KEEGAN: Patricia Keegan, FDA.

22 DR. WARD: Ashley Ward, FDA.

1 DR. FASHOYIN-AJE: Lola Fashoyin-Aje, FDA.

2 DR. OSGOOD: Christy Osgood, FDA.

3 DR. FIERO: Mallorie Fiero, FDA.

4 DR. HUNSBERGER: Sally Hunsberger, NIH.

5 DR. HALABI: Susan Halabi, Duke University.

6 DR. CRISTOFANILLI: Massimo Cristofanilli,
7 Northwestern University.

8 DR. ULDRICK: Thomas Uldrick, Fred
9 Hutchinson Cancer Research Center.

10 DR. NOWAKOWSKI: Greg Nowakowski, Mayo
11 Clinic, Rochester.

12 LCDR SHEPHERD: Jennifer Shepherd,
13 designated federal officer, FDA.

14 DR. RINI: Brian Rini, Cleveland Clinic.

15 DR. KLEPIN: Heidi Klepin, Wake Forest.

16 DR. HOFFMAN: Philip Hoffman, University of
17 Chicago.

18 MS. PREUSSE: Courtney Preusse, consumer rep.

19 MS. BROYLES: Susan Broyles, Aledo, Texas.

20 DR. WEINFURT: Kevin Weinfurt, Duke
21 University.

22 DR. STRADER: Doris Strader, University of

1 Vermont.

2 DR. VILLALOBOS: Victor Villalobos,
3 University of Colorado, Denver.

4 DR. CALIS: Karim Calis, NIH.

5 DR. MORROW: P.K. Morrow, Amgen.

6 DR. RINI: Thank you.

7 For topics such as those being discussed at
8 today's meeting, there are often a variety of
9 opinions, some of which are quite strongly held.
10 Our goal is that today's meeting will be a fair and
11 open forum for discussion of these issues, and that
12 individuals can express their views without
13 interruption.

14 Thus, as a gentle reminder, individuals will
15 only be allowed to speak into the record only if
16 recognized by the chairperson. We look forward to
17 a productive meeting.

18 In the spirit of the Federal Advisory
19 Committee Act and the Government in the Sunshine
20 Act, we ask that advisory committee members take
21 care that their conversations about the topic at
22 hand take place in the open forum of the meeting.

1 We are aware that members of the media are anxious
2 to speak with the FDA about these proceedings.
3 However, FDA will refrain from discussing the
4 details of this meeting with the media until its
5 conclusion. Also, the committee is reminded to
6 please refrain from discussing meeting topics
7 during break or lunch. Thank you.

8 Now, I'll pass it to Lieutenant Commander
9 Jennifer Shepherd, who will read the Conflict of
10 Interest Statement.

11 **Conflict of Interest Statement**

12 LCDR SHEPHERD: Good morning. The Food and
13 Drug Administration is convening today's meeting of
14 the Oncologic Drugs Advisory Committee under the
15 authority of the Federal Advisory Committee Act of
16 1972. With the exception of the industry
17 representative, all members and temporary voting
18 members of the committee are special government
19 employees or regular federal employees from other
20 agencies and are subject to federal conflict of
21 interest laws and regulations.

22 The following information on the status of

1 this committee's compliance with federal ethics and
2 conflict of interest laws, covered by but not
3 limited to those found at 18 U.S.C. Section 208, is
4 being provided to participants in today's meeting
5 and to the public.

6 FDA has determined that members and
7 temporary voting members of this committee are in
8 compliance with federal ethics and conflict of
9 interest laws. Under 18 U.S.C. Section 208,
10 Congress has authorized FDA to grant waivers to
11 special government employees and regular federal
12 employees who have potential financial conflicts
13 when it is determined that the agency's need for a
14 special government employee's services outweighs
15 his or her potential financial conflict of interest
16 or when the interest of a regular federal employee
17 is not so substantial as to be deemed likely to
18 affect the integrity of the services which the
19 government may expect from the employee.

20 Related to the discussions of today's
21 meeting, members and temporary voting members of
22 this committee have been screened for potential

1 financial conflicts of interest of their own, as
2 well as those imputed to them, including those of
3 their spouses or minor children and, for purposes
4 of 18 USC Section 208, their employers. These
5 interests may include investments; consulting;
6 expert witness testimony; contracts, grants,
7 CRADAs; teaching, speaking, writing; patents and
8 royalties; and primary employment.

9 During the morning session, the committee
10 will discuss the new drug application 211810, for
11 pexidartinib capsules, submitted by Daiichi Sankyo,
12 Incorporated. The proposed indication or use for
13 this product is for the treatment of adult patients
14 with symptomatic tenosynovial giant cell tumor,
15 also referred to as giant cell tumor of the tendon
16 sheath or pigmented villonodular synovitis, which
17 is associated with severe morbidity or functional
18 limitations, and which is not amenable to
19 improvement with surgery.

20 This is a particular matters meeting during
21 which specific matters related to Daiichi Sankyo's
22 NDA will be discussed. Based on the agenda for

1 today's meeting and all financial interests
2 reported by the committee members and temporary
3 voting members, no conflict of interest waivers
4 have been issued in connection with this meeting.
5 To ensure transparency, we encourage all standing
6 committee members and temporary voting members to
7 disclose any public statements that they have made
8 concerning the product at issue.

9 With respect to FDA's invited industry
10 representative, we would like to disclose that
11 Dr. P.K. Morrow is participating in this meeting as
12 a nonvoting industry representative, acting on
13 behalf of regulated industry. Dr. Morrow's role at
14 this meeting is to represent industry in general
15 and not any particular company. Dr. Morrow is
16 employed by Amgen.

17 We would like to remind members and
18 temporary voting members that if the discussions
19 involve any other products or firms not already on
20 the agenda for which an FDA participant has a
21 personal or imputed financial interest, the
22 participants need to exclude themselves from such

1 involvement, and their exclusion will be noted for
2 the record. FDA encourages all other participants
3 to advise the committee of any financial
4 relationships that they may have with the firm at
5 issue. Thank you.

6 DR. RINI: We'll now proceed with FDA's
7 introductory comments from Dr. Lola Fashoyin-Aje.

8 **FDA Introductory Comments - Lola Fashoyin-Aje**

9 DR. FASHOYIN-AJE: Members of the advisory
10 committee, of the Daiichi Sankyo team, invited
11 guests, visitors and FDA colleagues, good morning.
12 My name is Lola Fashoyin-Aje. I'm a medical
13 officer in the Office of Hematology and Oncology
14 Products and the cross-discipline team leader for
15 new drug application 211810.

16 Pexidartinib is an orally-administered,
17 small-molecule, tyrosine kinase inhibitor of the
18 colony-stimulating factor-1 receptor. Daiichi
19 Sankyo seeks approval of pexidartinib for the
20 treatment of adult patients with symptomatic
21 tenosynovial giant cell tumor, which is associated
22 with severe morbidity and functional limitations,

1 and which is not amenable to improvement with
2 surgery.

3 As you will hear today, tenosynovial giant
4 cell tumor, or TGCT, is a proliferative but rarely
5 malignant disease. TGCT may manifest as one or
6 more tumors that grow in extra-articular synovial
7 tissues such as the tendon sheets or can be an
8 intra-articular process involving the synovium and
9 bursae.

10 The tumor mass typically expands in a slowly
11 progressive or indolent manner, and patients
12 typically experience symptoms such as pain,
13 stiffness, swelling, or reduced range of motion,
14 the severity of which depends on the size and the
15 location of the tumor or tumors. TGCT can cause
16 significant impairment and adversely affect how
17 patients feel and function.

18 The spectrum of the therapeutic approaches
19 to managing this disease ranges from observation
20 with serial follow-up imaging and supportive
21 measures to treat symptoms, to surgical
22 interventions aimed to resect the tumor mass. In

1 patients for whom surgical excision of the tumor is
2 feasible, this approach is often used. However, up
3 to a third of patients experience tumor recurrence
4 requiring additional surgical procedures, including
5 in some cases joint replacement or amputation.

6 Beyond surgical interventions, radiation has
7 been used in some cases, either alone or as an
8 adjunctive therapy to surgical interventions, often
9 with limited effectiveness. Notably however, there
10 are no systemic therapies approved for the
11 treatment of this disease, representing an unmet
12 medical need for patients with TGCT who are not
13 candidates for surgery or for whom surgical
14 resection would be associated with excess
15 morbidity.

16 Daiichi Sankyo's NDA dossier includes the
17 results of the ENLIVEN trial to support the primary
18 assessment of efficacy and safety of pexidartinib
19 in the indicated population. This trial is a
20 randomized, double-blind, placebo-controlled trial
21 designed to assess the efficacy of pexidartinib as
22 measured by the overall response rate at week 25 as

1 a primary efficacy endpoint and by patient and
2 clinician reported clinical outcome assessment
3 measures, also measured at week 25, as key
4 secondary endpoints to evaluate the effects of
5 pexidartinib on the physical and functional aspects
6 of the disease.

7 This slide outlines the major review issues
8 for this application with the assessment of the
9 clinical benefit, and the assessment of an
10 identified risk of liver injury in pexidartinib
11 treated patients.

12 In the ENLIVEN trial, there was a
13 statistically significant improvement in overall
14 response rate in patients randomized to the
15 pexidartinib arm compared to patients randomized to
16 the placebo arm at the time of the primary analysis
17 of overall response rate at week 25. A total of
18 23 patients randomized to pexidartinib experienced
19 responses for an overall response rate or ORR, of
20 38 percent compared to no responses in patients
21 randomized to placebo.

22 An analysis of duration of response based

1 upon additional follow-up, after the week 25
2 analysis of overall response rate, demonstrated
3 that 22 of 23 responders experienced responses that
4 were durable for 6 months or more, and that 13 of
5 13 responders who had been followed for a minimum
6 of 12 months, following the initial response,
7 maintained their responses at the 12-month
8 post-response landmark.

9 Additionally, patients randomized to
10 pexidartinib also demonstrated a statistically
11 significant improvement in the key secondary
12 clinical outcome assessment endpoints of mean
13 change from baseline for range of motion, for
14 physical function, and for worse stiffness,
15 compared to patients randomized to placebo as shown
16 in the first 3 rows on the table at the bottom of
17 this slide.

18 As you will note, a large proportion of
19 patients have missing clinical outcome assessment
20 data ranging from 27 percent to 43 percent as shown
21 in red.

22 In describing the assessment of clinical

1 benefit, the main review issue for the FDA is
2 discerning whether the data package that supports
3 this NDA provides robust evidence of clinical
4 benefit in the context of a progressive, slow
5 growing, and nonfatal disease that can cause
6 significant functional impairment.

7 The FDA review team recognizes that
8 assessing the treatment benefit of pexidartinib,
9 based upon tumor burden reduction alone, may not be
10 sufficient to fully characterize the effects of
11 this drug as a potential treatment for TGCT given
12 the features of the disease.

13 The design of the ENLIVEN trial allowed for
14 an assessment of benefit that included measures of
15 effects on tumor burden and measures of effects on
16 the symptomatic and functional aspects of the
17 disease.

18 FDA's assessment is that the results of the
19 analysis of overall response rate, supported by the
20 durability of the responses, demonstrate a
21 favorable effect of pexidartinib on tumor burden.
22 However, less clear is the effect of pexidartinib

1 on the functional and physical aspects of TGCT as
2 measured in the ENLIVEN trial given the
3 uncertainties in estimating effects on the clinical
4 outcome assessment endpoints and in interpreting
5 the clinical outcome assessment results, and given
6 the high proportion of patients with missing
7 assessment data and the amendments to the ENLIVEN
8 trial to reorder the hierarchical testing of
9 secondary endpoints to mitigate the impact of
10 missing data.

11 Additional factors leading to uncertainties
12 and interpretation of the clinical outcome
13 assessment results include the potential unblinding
14 of clinical assessors and establishing a clinically
15 meaningful threshold of benefit. Dr. Fiero will
16 describe these limitations and uncertainties in
17 detail during the FDA presentation.

18 The risk of liver injury in patients who
19 receive pexidartinib is also a major review issue.
20 In the ENLIVEN trial, serum transaminase elevations
21 occurred in a majority of patients. Elevations in
22 alanine transaminase, or ALT, and aspartate

1 transaminase, or AST, occurred in 67 percent and
2 90 percent of patients, respectively. Bilirubin
3 increases occurred less frequently in 12 percent of
4 patients.

5 Importantly, approximately 5 percent of
6 patients in the ENLIVEN trial experienced a pattern
7 of serum transaminase and bilirubin elevation that
8 is indicative of severe liver injury, characterized
9 by AST or ALT greater than 3 times the upper limit
10 of normal with concurrent bilirubin increases
11 greater than 2 times the upper limit of normal.
12 Across the development program, in patients with
13 and without TGCT, a similar frequency and severity
14 in serum transaminase and bilirubin abnormalities
15 was observed.

16 Concerning in the context of a disease that
17 is not life threatening was the observation of two
18 cases of irreversible liver injury among the
19 768 patients in the overall development program for
20 pexidartinib. One patient subsequently underwent
21 liver transplantation and another died due to
22 several factors, including liver failure.

1 In the few patients with evidence of severe
2 liver injury whose workup included biopsies,
3 including the aforementioned 2 patients, there was
4 evidence of bile duct injury. Therefore, the
5 spectrum of liver injury in pexidartinib treated
6 patients ranges from serum transaminase and
7 bilirubin elevation, to ductopenia, to liver
8 failure.

9 This is what we know about the safety
10 profile of pexidartinib, and Dr. Osgood will
11 discuss these known risks and Daiichi Sankyo's
12 proposed measures to mitigate them in her
13 presentation.

14 There are also some uncertainties about the
15 long-term effects of treatment with pexidartinib.
16 Although the majority of patients who experienced
17 serum transaminase and bilirubin elevations while
18 receiving pexidartinib had improvement to baseline
19 levels with dose reductions, dose interruptions,
20 and/or discontinuation of pexidartinib, some
21 patients, including 2 patients with TGCT, had a
22 prolonged time to recovery despite implementation

1 of these measures.

2 Because serial biopsies were not performed
3 in most patients with evidence of liver injury, the
4 scope of the liver injury that may occur in the
5 setting of clinically normal or improved serum
6 transaminase and bilirubin levels is unknown.
7 Furthermore, it is unclear whether pexidartinib
8 causes subacute and/or chronic indolent injury,
9 which is not detectable with laboratory monitoring
10 but which may result in adverse clinical outcomes.

11 In summary, patients with TGCT may
12 experience significant physical impairment,
13 particularly when the disease is not amenable to
14 surgical resection. There are no available
15 systemic therapies for the treatment of these
16 patients. Still, TGCT is not a fatal disease and
17 that the balance of benefit and risk must be
18 weighed differently than would be typically done
19 for therapies indicated for the palliative
20 treatment of life-threatening or fatal conditions.

21 For the first issue for discussion today,
22 FDA considers robust anti-tumor effects supported

1 by equally robust effects on the clinical outcome
2 assessment endpoints that are clinically relevant
3 to patients with TGCT as important criteria to
4 demonstrating clinical benefit in this disease.

5 The results of the ENLIVEN trial meet the
6 first criterion. Whether the second criterion has
7 been met is less clear, given the limitations in
8 the estimation of effects on the clinical outcome
9 assessment endpoints and in the interpretation of
10 the clinical outcome assessment results.

11 To the second issue for discussion today,
12 while the vast majority of patients who were
13 randomized to the pexidartinib arm and who
14 experienced serum transaminase and bilirubin
15 elevations, had improvement to baseline values,
16 with adequate monitoring of the relevant laboratory
17 parameters and the implementation of dose
18 modifications and withdrawal of the drug, some
19 patients experienced severe liver injury despite
20 these measures.

21 Additionally, there remain uncertainties
22 regarding the long-term effects of this drug for

1 both injury that is identifiable with laboratory
2 monitoring and injury that may be subclinical,
3 progressive, and that may result in adverse
4 outcomes.

5 The FDA review team seeks input from the
6 advisory committee on whether the benefits of
7 pexidartinib outweigh its risks in the proposed
8 indication. This concludes my remarks. I thank
9 you for your attention.

10 DR. RINI: Thank you.

11 Dr. Lewis, if you could just introduce
12 yourself for the record into the microphone.

13 DR. LEWIS: My name is Val Lewis. I'm an
14 orthopedic oncologist and professor and chair of
15 orthopedics at MD Anderson Cancer Center, Houston,
16 Texas.

17 DR. RINI: Thank you.

18 Both the FDA and public believe in a
19 transparent process for information-gathering and
20 decision-making. To ensure such transparency at
21 the advisory committee meeting, FDA believes that
22 it is important to understand the context of an

1 individual's presentation. For this reason, FDA
2 encourages all participants, including the
3 sponsor's nonemployee presenters, to advise the
4 committee of any financial relationships that they
5 may have with the firm at issue such as consulting
6 fees, travel expenses, honoraria, and interest in
7 the sponsor, including equity interests and those
8 based upon the outcome of this meeting.

9 Likewise, FDA encourages you at the
10 beginning of your presentation to advise the
11 committee if you do not have any such financial
12 relationships. If you choose not to address this
13 issue of financial relationships at the beginning
14 of your presentation, it will not preclude you from
15 speaking, and we'll now proceed with the
16 applicant's presentation.

17 **Applicant Presentation - Eric Richards**

18 MR. RICHARDS: Good morning, chairman, FDA,
19 and members of the ODAC committee. My name is Eric
20 Richards. I am the head of global regulatory
21 affairs oncology at Daiichi Sankyo. On behalf of
22 Daiichi Sankyo, I am pleased to be here today to

1 discuss the pexidartinib application.

2 Tenosynovial giant cell tumor, or TGCT,
3 represents an unmet need. It is a rare,
4 non-malignant tumor of the synovium that affects
5 musculoskeletal joints. Symptoms include pain,
6 stiffness, and functional impairment that can
7 sometimes be severe.

8 Surgical resection is the primary treatment
9 modality for the disease, but diffuse disease can
10 be difficult to manage surgically. And for some
11 patients, their disease may not be amenable to
12 surgery due to significant surgical morbidity.
13 Limb amputation may be required in severe and
14 recurrent cases. There are no approved systemic
15 therapies for this disease.

16 Pictured here are several extreme cases of
17 TGCT, where the burden of disease is highest and
18 clearly evident. This slide describes the
19 pathophysiology of TGCT and the role of CSF-1. A
20 genetic translocation leads to the overexpression
21 of CSF-1, which controls various macrophage
22 functions. This over expression of CSF-1 by

1 neoplastic cells leads to the recruitment of
2 inflammatory non-neoplastic cells that from the
3 tumor. The tumor primarily consists of mononuclear
4 and multinucleated giant cells.

5 Inhibition of the CSF-1 receptor with
6 pexidartinib blocks the autocrine loop that drives
7 proliferation of neoplastic cells and the paracrine
8 loop that recruits inflammatory cells, thereby
9 leading to tumor regression.

10 Pexidartinib is a novel, highly selective,
11 small molecule, tyrosine kinase inhibitor of the
12 CSF-1 receptor. It also inhibits c-Kit and FLT3.
13 The chi-no [ph] map on the right shows that it is
14 highly selective for CSF-1R with an IC50 of
15 17 nanomolar in a cell-free assay.

16 Pexidartinib is orally bioavailable and has
17 a half-life of 27 hours. It is predominantly
18 metabolized in the liver by UGT1A4 and CYP3A,
19 therefore, dose reductions are recommended for
20 strong inhibitors of these isoenzymes. In subjects
21 with renal impairment, there is 40 percent change
22 in pexidartinib exposure. There was no observed

1 effect on mild hepatic impairment on exposure.
2 Neither pexidartinib nor its major metabolite are
3 associated with QTc prolongation.

4 The clinical evidence to support the
5 proposed indication is derived from a phase 1 study
6 involving 39 TGCT patients and a randomized
7 double-blind phase 3 study in 61 patients treated
8 with pexidartinib and 59 patients treated with
9 placebo. The median duration of exposure to
10 pexidartinib for TGCT patients was approximately
11 70 weeks with some patients exposed for 5 years.

12 In addition to the 130 TGCT patients treated
13 with pexidartinib, there were 638 cancer patients
14 treated with pexidartinib monotherapy or in
15 combination with anticancer agents. Finally, an
16 additional 30 patients were treated in a DDI study
17 that enrolled TGCT and cancer patients. In total,
18 798 patients have been treated with pexidartinib
19 over the clinical development program.

20 The proposed indication for pexidartinib is
21 for the treatment of adult patients with
22 symptomatic tenosynovial giant cell tumor, TGCT,

1 associated with severe morbidity or functional
2 limitations and not amenable to improvement with
3 surgery. This indication is intended to select a
4 population with little to no options and for whom
5 the benefit-risk profile is most positive.

6 Pexidartinib is supplied in 200-milligram capsules
7 and the proposed dosing regimen is 400 milligrams
8 twice daily on an empty stomach.

9 Today you will hear about the high unmet
10 medical need in TGCT where no approved systemic
11 therapies exist and the important role that CSF-1
12 plays as a strong driver of the disease. We will
13 describe the efficacy data for pexidartinib and how
14 this clearly establishes the robust effect on tumor
15 response and clinically meaningful improvement in
16 functional and disease symptoms as measured by
17 clinical and patient-reported outcomes.

18 We will discuss how the safety profile of
19 pexidartinib has been well established and is
20 generally manageable, and we will discuss in detail
21 the serious cases of mixed or cholestatic
22 hepatotoxicity that have been observed. We will

1 also describe our proposed risk mitigation
2 measures, which include a risk evaluation and
3 mitigation strategy and an associated patient
4 registry.

5 Lastly, we will conclude that pexidartinib
6 has a positive benefit-risk profile in the TGCT
7 population with severe morbidity or functional
8 limitation, and in whom the disease is not amenable
9 to improvement with surgery.

10 The following is an outline of our
11 presentation. Dr. Nicholas Bernthal, an orthopedic
12 oncologists, will describe the disease and unmet
13 need, followed by Dr. William Tap, the principal
14 investigator of ENLIVEN, the phase 3 study, who
15 will describe the efficacy data for pexidartinib
16 and TGCT.

17 Dr. Antoine Yver of Daiichi Sankyo and
18 Dr. Laurie DeLeve from USC will present the safety
19 profile of pexidartinib. I will then come back to
20 discuss the proposed risk evaluation and mitigation
21 Strategy, and finally. Dr. William Tap will come
22 back to share his clinical perspective on the

1 benefit-risk profile of pexidartinib in the
2 intended population.

3 The following is a list of consultants that
4 will be available to address, uh, questions
5 regarding specific topics. I will now hand off to
6 Dr [inaudible] who will walk us through the unmet
7 need and burden of disease in TGCT.

8 **Applicant Presentation - Nicholas Bernthal**

9 DR. BERNTHAL: Good morning, and thank you
10 for your time. My name is Nick Bernthal. I lead
11 the musculoskeletal oncology section at UCLA. I'm
12 a paid consultant of the sponsor but have no
13 financial interest in the outcome of the meeting.
14 Over the next few minutes, I'd like to give you a
15 little bit of background on TGCT and the current
16 treatment landscape from my perspective as a
17 surgeon who treats patients.

18 TGCT is a non-malignant tumor of the
19 synovium that affects the lining of a variety of
20 joints. It typically affects patients in one joint
21 and is often disfiguring. Disease is not fatal but
22 causes significant morbidity leading to pain,

1 swelling, stiffness, and decreased functionality.

2 Importantly, TGCT affects people in the
3 functional prime of their lives. These patients,
4 often in their 20s and 30s, are being managed
5 symptomatically often with long-term analgesics and
6 opioids. By definition, TGCT is a synovial
7 infiltrate with large amounts of hemosiderin
8 deposition that's driven by CSF-1R. However, it's
9 important to understand that the phenotype is
10 separated into two very distinct clinical
11 presentations.

12 On the left, localized TGCT is a well
13 circumscribed, isolated nodule of disease that's
14 easily resected with a straightforward, often
15 outpatient surgery. On the right, diffuse TGCT is
16 an infiltrative tumor representing an ill-defined
17 aggressive synovial proliferation that erodes
18 through tissue planes and anatomic boundaries.

19 In terms of epidemiology, the vast majority
20 of patients presenting with TGCT have localized
21 disease with more than a 10 to 1 predominance.
22 This is critical given that most localized type

1 disease is treated with a simple surgical cure with
2 little morbidity and low recurrence rates.

3 The localized TGCT population that can be
4 cured by surgery are not the patient population
5 we're discussing here today. Diffuse disease seen
6 in fewer than 10 percent of TGCT patients is far
7 more challenging for surgeons and patients alike.
8 These patients are the focus of our talk today as
9 they represent the current unmet need.

10 If you look here at the image on the left,
11 this is a localized tumor type we talked about, a
12 single nodule that would be removed simply with a
13 surgical excision; whereas the center and right
14 images are from a patient with diffuse disease.
15 These represent the opposite end of the clinical
16 spectrum of TGCT.

17 If you look at these images, you cannot
18 overstate the destruction to the knee. Where the
19 tumor ends and where normal tissue begins is
20 virtually indistinguishable. The bone loss from
21 the inflammation is clear. These are patients for
22 whom our only option has been very aggressive

1 surgery, removing the entire synovium and
2 surrounding tissue. And even with that, we still
3 have more than 50 percent recurrence rates and
4 persistent pain and morbidity. These patients
5 often undergo multiple surgeries, often require
6 joint replacement, and sometimes go on to
7 amputation.

8 This is an example of one such patient, a
9 young man I've treated over the last decade. He
10 started in Boston with a biopsy and arthroscopic
11 surgery at age 22. You see repeat arthroscopies
12 until an open resection at age 25. At age 26, he
13 goes on to get a total knee replacement, but even
14 that doesn't solve his problem. It allows him to
15 be ambulatory, but he continues to have
16 recalcitrant pain, stiffness, and swelling.

17 Now look at this from the perspective of the
18 impact on his life. This young man's life has been
19 massively uprooted; leaves of absence from
20 undergrad and law school; transferring jobs because
21 he couldn't manage the swelling symptoms in cold
22 climates; over 750 total days off for this patient

1 in the last 15 years. He's had regular physical
2 therapy, dozens of braces, compression socks, and
3 ice machines. The point is, is this is an
4 absolutely life-altering disease when you have
5 diffuse recalcitrant TGCT.

6 As a nonmalignant but highly morbid tumor,
7 diffuse TGCT is difficult to characterize and
8 requires non-traditional metrics. Even from a
9 basic radiologic standpoint, these tumors are
10 irregular in shape and non-spherical. To address
11 this, we often add a volumetric measurement called
12 tumor volume, TVS, which is calculated as a
13 percentage of the entire synovium.

14 Unlike most tumors, the impact of TGCT is
15 not measured in mortality; it's measured in
16 functional disability. In order to assess this, we
17 start with ubiquitous objective musculoskeletal
18 metrics like range of motion measured by the
19 physician with a goniometer. It's important to
20 understand, though, that the clinical relevance of
21 range of motion is highly joint dependent.

22 For example, a fused ankle with zero degrees

1 of motion can be a highly functional pain-free
2 joint. For the knee, however, the most common
3 joint affected by TGCT, range of motion is highly
4 correlated with functional capacity. For example,
5 a patient needs 65 degrees of flexion to walk on a
6 level surface, approximately 80 degrees to go up
7 and down stairs, 90 to get in and out of a chair,
8 and 110 degrees to perform most activities of daily
9 living.

10 Additionally, we adopted a patient-reported
11 tool, the NIH-developed PROMIS Physical Function
12 Score, a validated metric that evaluates overall
13 musculoskeletal wellbeing. PROMIS PF is widely
14 used in orthopedic conditions to assess disability
15 and effectiveness of interventions and has the
16 added benefit of being applicable to all joints.

17 To put the functionality of PROMIS-PF in
18 context, the impact of two of the most effective
19 orthopedic interventions are depicted here. Total
20 shoulder replacement on the left and total knee
21 replacement on the right are widely accepted
22 life-improving interventions. When evaluated by

1 PROMIS-PF, recent publications showed that total
2 shoulder replacement leads to a mean improvement of
3 3.7 points on a PROMIS scale, and total knee
4 replacement leads to an 8.6 point improvement.

5 So now we return to this patient with
6 recalcitrant diffuse type disease. In this case,
7 we do a radical synovectomy of both the front and
8 back of the knee joint. We replaced the distal
9 femur and the entire knee joint, and yet the
10 procedure is not the end of the road. The patient
11 is now ambulatory but continues to have recurrent
12 swelling, stiffness, and pain. His tumor burden is
13 challenging to manage and his PROMIS scores remain
14 low.

15 We know that these patients, despite being
16 young and healthy otherwise, are at a significantly
17 higher risk of infection, stiffness, and prolonged
18 functional burden even after undergoing total joint
19 replacement as compared to our typical patients.

20 Thus, nearly all localized TGCT patients and
21 many diffuse TGCT patients can be cured with
22 surgery, but those who cannot, fall into a clinical

1 no-man's land. They travel from physician to
2 physician, from internal medicine, to sports
3 medicine, to rheumatology, and then they often end
4 up back with us, the orthopedic oncologist.

5 We are then stuck with a no-win proposition.
6 We offer them pain medication for palliation,
7 additional non-curative deforming surgery, or
8 amputation. Given no medical options, patients
9 usually choose the path of periodic trips to the
10 operating room, whittling away at disease once the
11 pain and disability reach an intolerable level,
12 only knowing that this temporization is short-lived
13 and highly morbid.

14 What pexidartinib offers is a paradigm shift
15 for patients for whom surgery fails. While the
16 patient journey previously ended with us, a
17 targeted systemic drug effective against the most
18 recalcitrant of cases allows us to create a
19 patient-specific treatment pathway that leverages a
20 multidisciplinary team. These patients for whom
21 TGCT so drastically impacts the prime of their
22 lives will now potentially have another option.

1 I would like to turn the presentation over
2 to Dr. Bill Tap to discuss the clinical efficacy of
3 pexidartinib.

4 **Applicant Presentation - William Tap**

5 DR. TAP: Thank you, Dr. Bernthal.

6 My name is William Tap. I am the chief of
7 the medical oncology service, the sarcoma service
8 at Memorial Sloan Kettering Cancer Center. I'm a
9 paid consultant for Daiichi Sankyo, but I have no
10 financial interest in the outcome of this meeting.

11 I have been fortunate to be involved with
12 the development of pexidartinib since the phase 1
13 study. It is well established that CSF-1 signaling
14 drives tumor formation in TGCT, so pexidartinib's
15 mechanism of action, the targeting of CSF-1, makes
16 sense in this disease.

17 I'd like to offer some more detail about our
18 development program, our study design and
19 rationale, and what we found as far as efficacy.
20 The pexidartinib clinical development program
21 consists of 159 TGCT patients. First, there was
22 the phase 1 study that had an extension cohort that

1 included 39 patients with TGCT. This led to the
2 phase 3 ENLIVEN study, which enrolled 120 patients
3 with TGCT. This included 61 patients randomized to
4 pexidartinib in part 1, as well as 30 who crossed
5 over from placebo to pexidartinib in part 2. Our
6 efficacy data in TGCT comes from a total of
7 130 patients who have received pexidartinib over
8 the course of these two studies.

9 In the phase 1 study, dose escalation
10 established 1,000 milligrams per day as the maximum
11 tolerated dose. Dose-limiting toxicities included
12 increased AST and bone marrow suppression. A
13 thousand milligrams per day in divided doses was
14 then evaluated in the phase 1 extension study.
15 Thirty-nine patients were enrolled in the TGCT
16 extension cohort and 20 were evaluable at the
17 interim analysis, which is shown here. Sixty
18 percent of evaluable patients had a partial
19 response by RECIST. These promising results led to
20 the development of the ENLIVEN study.

21 ENLIVEN was an adequate and well-controlled
22 randomized, double-blind phase 3 study. We

1 enrolled patients who had histologically confirmed
2 advance TGCT for whom surgical resection could
3 potentially worsen functional limitation or cause
4 severe morbidity. Patients were required to be
5 symptomatic and have measurable disease of at least
6 2 centimeters by RECIST.

7 Randomization was stratified by U.S. versus
8 non-U.S. sites and upper versus lower extremity
9 disease. ENLIVEN was composed of two parts. Part
10 1 was 24 weeks. Patients were randomized in a
11 1 to 1 fashion to receive either pexidartinib or
12 placebo. Pexidartinib was given at a thousand
13 milligrams per day in split doses for 2 weeks.
14 After 2 weeks, patients were dosed-reduced to 800
15 milligrams a day in split doses for 22 weeks.
16 Overall, 120 patients with TGCT in part 1 were
17 randomized and treated.

18 After the 25-week assessment, a patient
19 could move into part 2 of the trial. This was an
20 open-label extension in which all patients would
21 receive pexidartinib at their part 1 dose. There
22 were 30 patients who crossed over in this way. The

1 data cutoff for the primary analysis at week 25 was
2 March of 2017. The data cutoff for the mature
3 efficacy and safety results was January 31st of
4 2018.

5 The primary endpoint was overall response
6 rate at week 25 based on blinded, central review of
7 MRI scans using RECIST. Secondary endpoints
8 included 5 prespecified comparative analyses at
9 week 25; range of motion, as measured by a third
10 party using goniometry assessments; overall
11 response rate by tumor volume score; PROMIS
12 Physical Function scale; worst stiffness; and pain
13 inventory.

14 These tools have been validated in
15 orthopedic populations, and FDA guidelines were
16 followed for the TGCT population. Duration of
17 response was also measured by RECIST and the tumor
18 volume score, but it was not mature at week 25.

19 The study had a 90 percent power to detect a
20 25 percent difference in response rates, assuming
21 an active response rate of 35 percent and a placebo
22 response rate of 10 percent. This required a

1 sample size of 126 patients with a two-sided alpha
2 of 0.05.

3 We observed 2 cases of cholestatic
4 hepatotoxicity in ENLIVEN, so the data monitoring
5 committee was requested to review unblinded safety
6 data. The DMC recommended study changes, including
7 an accrual stoppage. This occurred in September of
8 2016 when the majority of the study was already
9 accrued. The study was held with 120 patients
10 enrolled versus the target of 126.

11 Ongoing patients were allowed to continue if
12 they so chose under re-consent. No new exposure to
13 pexidartinib was allowed. Therefore, after
14 completion of the end of part 1 assessments at week
15 25, patients who wished to continue were unblinded
16 and patients on placebo were discontinued. After
17 database lock and study unblinding, it was revealed
18 that 30 patients had crossed over to pexidartinib
19 before implementation of the DMC action. They
20 continued on therapy.

21 In part 1, 61 patients were randomized to
22 pexidartinib and 59 patients to matching placebo.

1 Fifteen percent on the pexidartinib arm
2 discontinued early as compared to 19 percent on
3 placebo. The most common reason for
4 discontinuation on pexidartinib was an adverse
5 event, while withdrawal of consent was the most
6 common reason on placebo.

7 Demographics in the intention-to-treat
8 population were balanced between treatment groups.
9 There was a slight preponderance of females in both
10 arms. This is expected given that TGCT is more
11 common in females. The knee was the most common
12 location of disease.

13 About half of the patients in both arms had
14 prior surgery and most had not received prior
15 systemic therapy. The majority had used prior
16 analgesics. To be eligible for the study,
17 qualified personnel, for example, 2 surgeons or a
18 multidisciplinary tumor board, had to determine
19 that surgical resection would be associated with
20 potentially worsening function or severe morbidity.
21 Nearly all subjects have a moderate or severe risk
22 of surgical morbidity.

1 If we look at the baseline characteristics,
2 there were no notable differences between the two
3 groups. Range of motion and PROMIS Physical
4 Function score, as well as worst stiffness and
5 pain, were similar across both groups.

6 Pexidartinib met its primary endpoint in
7 ENLIVEN. The overall response rate at week 25 by
8 blinded central review demonstrates a significant
9 difference between pexidartinib and placebo. Based
10 on RECIST, 9 patients on pexidartinib had a
11 complete response; 15 a partial response; and 24
12 stable disease. This resulted in an overall
13 response rate of 39 percent for pexidartinib at
14 week 25 compared to zero percent for placebo. This
15 was highly statistically significant.

16 We also observed a statistically significant
17 and clinically meaningful improvement in the first
18 4 secondary endpoints. This included the objective
19 measures, range of motion and overall response rate
20 by tumor volume score and patient-reported
21 outcomes, PROMIS Physical Function, and worst
22 stiffness. Importantly, these improvements are

1 substantial. For example, as Dr. Bernthal
2 explained, a 4-point improvement in the PROMIS
3 Physical Function score is in the range that should
4 be achieved with certain joint replacements.

5 A number of subjects were missing valid end
6 of part 1 week 25 PRO assessments. The primary
7 reasons were early discontinuation in about 17
8 percent of patients and protocol non-compliance or
9 technical problems with the electronic diary in
10 24 percent of patients.

11 To help address the impact of this missing
12 data, BPI 30 pain responder, which was most
13 impacted, was moved to the bottom of the secondary
14 endpoint analysis, and range of motion endpoint was
15 analyzed first. The change to the secondary
16 endpoint hierarchy was made in consultation with
17 the FDA and by protocol amendment prior to database
18 lock at unblinding. There were additional
19 exploratory and sensitivity analyses planned to
20 assess the impact of the missing data on these
21 endpoints.

22 At the request of the agency, we conducted a

1 sensitivity analysis to assess the impact of the
2 missing PRO data. This analysis included all
3 patients who had a valid baseline and at least one
4 post baseline assessment. The mixed-model repeated
5 measures analyses showed clinically meaningful
6 results across all measured and points, including
7 worst pain. Additional post hoc sensitivity
8 analyses support positive efficacy outcomes, even
9 if the data were not missing at random.

10 Now that I've taken you through part 1 and
11 demonstrated that pexidartinib is effective, I'd
12 like to move on to present part 2, which shows that
13 efficacy is durable. Recall that these more mature
14 results include the 30 additional patients who
15 crossed over to pexidartinib after part 1, all at
16 the proposed dose of 800 milligrams per day.

17 Using the January 2018 data cutoff, we have
18 3 TGCT cohorts: the randomized ENLIVEN cohort, the
19 crossover of 30 patients, and the matured results
20 from the phase 1 study. Median treatment duration
21 is 17 months, so these data are mature.

22 These data show us that prolonged treatment

1 provides progressive tumor reduction. The best
2 overall RECIST response rate for the randomized
3 cohort is higher than the response rate at week 25,
4 53 percent versus 39 percent. Best overall
5 response rate is very consistent across all three
6 cohorts, and as you can see, duration of response
7 is very long with few subjects experiencing
8 progressive disease.

9 Here we have plotted the time to response
10 Kaplan-Meier curve for the 91 TGCT patients in
11 ENLIVEN. Continued responses are noted with time.
12 We also plotted a Kaplan-Meier curve for the
13 duration of response, which shows that the
14 treatment effect persists. These data support
15 continued treatment with pexidartinib.

16 The vast majority of patients experienced
17 tumor reduction with pexidartinib. This waterfall
18 plot of tumor volume shows that 64 percent had a
19 TVS response defined as greater than or equal to a
20 50 percent decrease in tumor volume score. This is
21 what we expect to see based on the biomarker data
22 showing that CSF-1 is the primary driver of this

1 disease.

2 In conclusion, pexidartinib was associated
3 with a very clear and durable benefit among
4 patients with TGCT. ENLIVEN met its primary of
5 overall response rate by RECIST at week 25. This
6 was supported by consistent benefit across cohorts
7 as well as secondary endpoints measuring function
8 and symptomatic improvement. The benefits observed
9 with pexidartinib were durable and clinically
10 meaningful for the patient.

11 I will now hand over the presentation to
12 Dr. Antoine Yver, who will discuss the general
13 safety results for pexidartinib.

14 **Applicant Presentation - Antoine Yver**

15 DR. YVER: Thank you, Dr. Tap.

16 My name is Antoine Yver. I'm the global
17 head of oncology, representing the development of
18 Daiichi Sankyo. Now that you've seen the comparing
19 clinical benefit of pexidartinib in this disease, I
20 will describe the general safety profile of
21 pexidartinib.

22 Our primary assessment of safety in the TGCT

1 population comes from the part 1 randomized
2 placebo-controlled comparison in ENLIVEN, which
3 represents the first 25 weeks on study. During the
4 randomized portion of the study, patients were
5 treated for a mean of about 22 weeks in both
6 groups. Almost all patients had at least one
7 adverse event, and there were more grade 3 or 4
8 adverse events and serious AEs in the pexidartinib
9 group.

10 Thirteen percent of patients in the
11 pexidartinib group experienced an AE
12 [indiscernible] with discontinuation compared with
13 none in the placebo group. Thirty-eight percent of
14 patients in the pexidartinib group had an adverse
15 event associated with dose interruption or dose
16 reduction versus 10 percent in the placebo group.

17 Most frequently reported treatment-emergent
18 adverse events are shown here. The blue bars to
19 the left represent the pexidartinib and grade 3 or
20 4 AEs are indicated with a darker shade. Adverse
21 events were more frequent in the pexidartinib
22 group, so most common events were hair color

1 changes, nausea, fatigue, and liver enzyme
2 increases.

3 As we can see, the vast majority of AEs on
4 pexidartinib and placebo were grade 1 or 2 in
5 severity. Keen reaction like rash and hair color
6 changes to gray or white are expected based on
7 pexidartinib's targeting of c-Kit. Liver enzyme
8 abnormalities, particularly AST and ALT increases,
9 are consistent with the mechanism of CSF-1 receptor
10 inhibition. This will be discussed later.

11 Overall, this AE profile is expected based
12 on the mechanism of action for pexidartinib.
13 Please keep in mind that these events were
14 reversible.

15 As I mentioned, 8 patients in the
16 pexidartinib group discontinued study drug due to
17 adverse events; 7 out of these 8 subjects
18 discontinued due to liver related adverse event.
19 Only 6 patients had a dose interruption reduction
20 in the placebo group compared with 23 patients in
21 the pexidartinib group.

22 The majority of dose modifications in the

1 pexidartinib groups were due to liver enzyme
2 elevation, mostly AST or ALT increases and
3 gastrointestinal disorders, such as nausea and
4 vomiting. Of these 23 subjects, all were able to
5 continue on pexidartinib treatment after initial
6 dose changes. Only 6 of them later discontinued
7 due to AEs. We looked at a number of variables and
8 found no predictive factors for AE leading to dose
9 interruption or reduction.

10 Here we're looking at long-term safety
11 through January 2018, which was the cutoff for the
12 submission. On the left is a cohort of 61 patients
13 treated with pexidartinib in a randomized phase of
14 ENLIVEN, then we have 30 patients from ENLIVEN
15 open-label crossover cohort, and on the right are
16 the 39 TGCT patients from the phase 1 extension
17 study.

18 Mean exposure was about 65 weeks for ENLIVEN
19 and 101 weeks for the phase 1 study. Long-term
20 safety with similar to what we observed during the
21 randomized period; that is, all patients had at
22 least one adverse event and there were more grade 3

1 or 4 adverse events and serious adverse events in
2 the pexidartinib group.

3 We observed a similar rate of AEs leading to
4 discontinuation between the patients randomized to
5 pexidartinib and those who crossed over to
6 pexidartinib from placebo. Fifty-six percent of
7 patients randomized to pexidartinib had an AE
8 leading to interruption or reduction compared to
9 67 percent of patients in the crossover group.

10 When we look at the most frequent
11 treatment-emergent adverse event across these three
12 cohorts, the profile is quite consistent; again,
13 the cutoff of January 2018. We see no new safety
14 signal with longer exposure to pexidartinib. Hair
15 color changes remains the most frequent adverse
16 event along with fatigue, nausea, and AST/ALT
17 increases.

18 I'd also like to briefly mention that we
19 have performed a 90-day safety update with safety
20 data for both phase 1 and ENLIVEN through August of
21 2018, and it is completely consistent with what we
22 saw for the submission cutoff in January 2018.

1 With a 90-day safety update, there were no new
2 late-emerging toxicity with continuation of
3 treatment. There were no new cases of mixed or
4 cholestatic hepatotoxicity in these three cohorts.

5 In summary, pexidartinib is associated with
6 mostly low-grade and reversible adverse events.
7 Adverse events occurring more frequently with
8 pexidartinib compared to placebo included hair
9 color changes and fatigue. Pexidartinib was
10 generally well-tolerated, especially in the context
11 of the extreme disease burden associated with TGCT
12 in the indicated population.

13 I would now like to hand over this
14 presentation to Dr. DeLeve, who will review the
15 hepatic safety data for pexidartinib.

16 **Applicant Presentation - Laurie DeLeve**

17 DR. DeLEVE: Thank you, Yver.

18 Good morning. My name is Laurie DeLeve.
19 I'm a professor of medicine at the University of
20 Southern California, and I was a member of the
21 hepatic events adjudication committee. I'm a paid
22 consultant, but I have no financial interest in the

1 outcome of this meeting.

2 Now that you've seen the general safety
3 profile for pexidartinib, I would like to walk you
4 through the liver-specific safety data that we've
5 identified among both TGCT and non-TGCT patient
6 populations. I'd like to begin this section by
7 highlighting that the hepatic adverse events of
8 special interest can be divided into two clinically
9 distinct presentations, both of which primarily
10 occurred in the first 8 weeks.

11 The first type is characterized by
12 aminotransferase elevations, which occur in the
13 absence of significant alkaline phosphatase or
14 bilirubin elevation, and are frequent, dose
15 dependent, and generally low grade.

16 The second type is mixed or cholestatic
17 hepatotoxicity, defined as alkaline phosphatase
18 elevation twice the upper limit of normal and of
19 liver origin, which may or may not be accompanied
20 by aminotransferase elevation. The second type can
21 be characterized as uncommon and idiosyncratic, and
22 while they are rarely serious, they can be life

1 threatening.

2 First, let's look at the lab data for the
3 isolated aminotransferase elevations. Here we see
4 the number of patients in the randomized treatment
5 group who had either AST or ALT elevations by
6 severity. More than half of patients in the
7 pexidartinib group had some elevation of AST or ALT
8 but less than 3 times the upper limit of normal,
9 and 27 percent of patients had elevations greater
10 than or equal to 3 times the upper limit of normal.

11 At the bottom of the table, we see the lab
12 data for the uncommon but mixed or cholestatic
13 hepatotoxicity. You can see there were no true
14 Hy's law cases as defined by ALT/AST greater than
15 or equal to 3 times the upper limit of normal, with
16 concomitant increases in total bilirubin greater
17 than or equal to 2 times the upper limit of normal
18 in the absence of alkaline phosphatase increase
19 greater than 2 times the upper limit of normal.

20 There were 3 patients, or 5 percent, treated
21 with pexidartinib who experienced mixed or
22 cholestatic hepatotoxicity as defined by alkaline

1 phosphatase of liver origin greater than or equal
2 to 2 times the upper limit of normal and
3 proportionately higher elevations of alkaline
4 phosphatase than aminotransferase elevations as
5 defined by a mathematical formula.

6 Regarding the mechanism of the observed
7 isolated aminotransferase elevations, it is worth
8 noting that this has been seen with other CSF-1R
9 inhibitors, including monoclonal antibodies, and it
10 is likely that this is related to CSF-1R
11 inhibition. Although this link has been
12 demonstrated in the literature, it has not yet
13 understood how CSF-1R inhibition causes
14 hepatotoxicity. Importantly, the observed increase
15 in aminotransferases were dose dependent and
16 responded to dose interruptions or dose reductions.

17 Shown here is an example of a patient who
18 had marked elevation of ALT with a daily dose of
19 800 milligrams of pexidartinib. ALT responded to
20 dose interruption, and the patient was rechallenged
21 with a reduced dose of 600 milligrams per day but
22 at a second lower elevation of ALT. No recurrence

1 or aminotransferase elevation occurred after a
2 further dose reduction to 400 milligrams per day.
3 This is a clear demonstration of the dose
4 dependence under reversibility of the
5 hepatocellular hepatotoxicity associated with
6 pexidartinib.

7 There were a total of 10 cases of mixed or
8 cholestatic hepatotoxicity that occurred across the
9 development program. None of these cases were
10 considered probably related to pexidartinib the
11 adjudication committee. In the TGCT population,
12 there were 5 cases of mixed or cholestatic
13 hepatotoxicity. Four cases resolved within 1 to 2
14 months and one ductopenia case took 7 months to
15 resolve.

16 The details for all of these cases are
17 available in the briefing document. I'd like to
18 discuss the details of the 7-month ductopenia case.
19 This is a case of a 75-year-old woman with TGCT,
20 who developed ductopenia and cholestasis, lasting
21 about 7 months. As background, ductopenia is
22 defined as a reduction in the number of

1 intrahepatic bile ducts.

2 On day 1, the patient started pexidartinib
3 at 1000 milligrams per day and was dose reduced to
4 800 milligrams per ENLIVEN protocol on day 15. On
5 day 31, pexidartinib was permanently discontinued
6 due to events of increased AST, alkaline
7 phosphatase and bilirubin, as well as nausea and
8 vomiting.

9 On day 72, her liver biopsy showed mild
10 fatty liver with ductopenia, indicating damage to
11 bile ducts. There was no significant inflammation
12 or fibrosis. After 7 months, her bilirubin trended
13 downward and eventually normalized. The events of
14 liver disorder, bilirubin increase, and aspartate
15 aminotransferase increase were considered related
16 to pexidartinib.

17 Here we have a summary table showing the
18 5 cases of mixed or cholestatic hepatotoxicity in
19 the non-TGCT population, including 2 cases for
20 investigator-initiated studies. Four of these
21 cases were adjudicated as probably related to
22 pexidartinib and one had insufficient data. All of

1 these cases are available for review in the
2 briefing document.

3 In the interest of time, I would like to
4 review 2 cases that were prolonged and significant.
5 The first case involved a 60-year-old female with
6 breast cancer who was taking concomitant
7 paclitaxel. On day 18, pexidartinib was
8 discontinued because of cholestatic hepatotoxicity
9 consistent for the managing [indiscernible] bile
10 duct syndrome. This case resulted in a liver
11 transplant at 20 months, after which the patient
12 recovered.

13 The other case involved a 66-year-old female
14 with progressive vaginal melanoma. She was
15 assessed as having cholestasis with
16 hyperbilirubinemia. She died in the context of
17 melanoma hyperbilirubinemia. She died in the
18 context of melanoma hyperbilirubinemia and cachexia
19 3 months after discontinuing treatment with
20 pexidartinib, and there were insufficient data for
21 adjudication. As with many other drugs that cause
22 cholestasis, the mechanism of pexidartinib-induced

1 cholestasis is not well known.

2 With respect to hepatic adverse reactions,
3 pexidartinib is associated with frequent, dose
4 dependent, and manageable aminotransferase
5 elevations that are associated with the
6 pharmacological effect of CSF-1R inhibition.

7 Pexidartinib is also associated with a low
8 incidence of idiosyncratic mixed or cholestatic
9 hepatotoxicity that is rarely severe but can be
10 prolonged or irreversible, and has an observed
11 onset within the first 2 months of treatment. This
12 reaction was identified in 10 out of 798 subjects,
13 which is 1.3 percent of patients across the
14 development program.

15 Daiichi Sankyo conducted a number of
16 investigations to better understand the mechanism
17 and risk factors for pexidartinib-associated
18 cholestatic hepatotoxicity. However, no risk
19 factors have been identified and the mechanism
20 remains unknown.

21 Although we cannot completely eliminate this
22 potentially life-threatening risk of severe mixed

1 or cholestatic events, the goal is to reduce the
2 risk of hepatotoxicity through careful monitoring
3 of liver function and early intervention with drug
4 discontinuation and other measures for the proposed
5 label of REMS. In addition, the proposed patient
6 registry will help us better understand the hepatic
7 safety profile over time.

8 Now, I would like to invite Mr. Richards to
9 the podium to discuss our proposed REMS in more
10 detail. Thank you very much.

11 **Applicant Presentation - Eric Richards**

12 MR. RICHARDS: Now that we have presented
13 the efficacy and safety profile of pexidartinib, I
14 would like to walk you through the proposed risk
15 evaluation and mitigation strategy, which is
16 intended to support the appropriate use of
17 pexidartinib.

18 The details of the REMS are under review by
19 the FDA, and undoubtedly there will be some
20 changes, but I will provide you with a high-level
21 overview right now. Daiichi Sankyo is committed to
22 working with the agency to establish an effective

1 REMS.

2 The REMS was proposed by Daiichi Sankyo as
3 part of the NDA submission. It is designed to
4 mitigate and further characterize the risk of
5 serious and potentially fatal hepatotoxicity.
6 Pexidartinib will be available only to stakeholders
7 who have been trained and certified. In addition,
8 based on recent discussions with FDA, a patient
9 registry has been added to the REMS to further
10 characterize the hepatotoxicity profile of
11 pexidartinib, especially in the long term.

12 To become certified to prescribe
13 pexidartinib, prescribers must review the
14 prescribing information and REMS training
15 materials, and then pass a knowledge assessment.
16 They are responsible for completing patient
17 enrollment, status, and adverse event forms for
18 each patient, and counseling patients using the
19 patient guide.

20 The prescriber must also conduct liver blood
21 tests at baseline and frequently during treatment,
22 particularly within the first several months. The

1 labeling will include clear instructions to
2 prescribers regarding when to withhold or
3 permanently discontinue treatment with pexidartinib
4 based on the results of liver tests. The specific
5 instructions are being actively discussed with the
6 FDA.

7 Patients must review the patient guide which
8 describes the risk of treatment, liver, blood test
9 requirements, and the clinical signs and symptoms
10 of hepatotoxicity. Patients must enroll in the
11 REMS and registry by completing the patient
12 enrollment form with their prescriber. Key risk
13 mitigation measures include compliance with liver
14 blood tests and immediately stopping pexidartinib
15 and reporting signs or symptoms of potential
16 hepatotoxicity to their doctor.

17 Pexidartinib will be distributed only
18 through specialty pharmacies, and both wholesalers
19 and pharmacies must complete a certification
20 process to dispense pexidartinib. Pharmacies will
21 be required to verify that the prescriber is
22 certified prior to dispensing each prescription.

1 They must also ensure that the patient is enrolled
2 in the registry and authorized to receive the drug.
3 For the first 3 months of therapy, only a 30-day
4 supply of pexidartinib is permitted to be
5 dispensed.

6 As I mentioned, patients must also be
7 enrolled in the registry before they can receive
8 pexidartinib. The goal of the registry will be to
9 further characterize the risk of hepatotoxicity,
10 especially with long-term treatment and inform risk
11 risk mitigation strategies. The registry will
12 collect demographic and baseline hepatic
13 information.

14 Patient status updates will be required
15 periodically during treatment, and these updates
16 will collect information regarding patient
17 treatment status and note any laboratory
18 abnormalities and related procedures. The
19 occurrence of a laboratory abnormality will also
20 trigger an adverse event form, which will collect
21 additional detailed information.

22 In summary, the goal of the REMS, which

1 includes an educational component and limited
2 distribution, is to mitigate the risk of
3 hepatotoxicity. The patient registry will
4 facilitate greater understanding of the risk and
5 inform future risk mitigation strategies. We look
6 forward to your feedback today and to further
7 dialogue with the agency on how to best use these
8 tools to protect patients' safety.

9 I will now hand the presentation over to
10 Dr. Tap to provide his clinical perspective on the
11 use of pexidartinib in patients with TGCT.

12 **Applicant Presentation - William Tap**

13 DR. TAP: Thank you, Mr. Richards.

14 I will round out the presentation today with
15 some thoughts on what this drug means to clinicians
16 and patients alike. As we've described, TGCT
17 represents a spectrum ranging from a surgically
18 curable tumor to a highly recalcitrant disease that
19 changes the trajectory of otherwise young and
20 healthy patients' lives.

21 For this latter group of patients, the
22 benefit of pexidartinib was clearly evident in the

1 ENLIVEN study, even in cases with long-standing
2 disease associated with extensive joint destruction
3 and clinical morbidity. However, we acknowledge
4 that the use of pexidartinib is not without risk,
5 but for those patients with recalcitrant disease,
6 we have to weigh the risk and benefit of
7 pexidartinib against further non-curative, highly
8 morbid surgery. These are the types of discussions
9 that we routinely have with our patients in the
10 clinic.

11 Take this young nurse, for example, with a
12 large tumor of the right knee. She had been living
13 with her disease for several years. She was unable
14 to straighten her knee, required a cane, was taking
15 narcotics, and was unable work. Her options were
16 limited to morbid surgeries every few years, and
17 she was seriously considering an above the knee
18 amputation.

19 She chose to be treated with pexidartinib
20 and had a dramatic response. After 4 months, her
21 knee swelling and range of motion improved. She no
22 longer required narcotics. She could walk

1 unassisted, and importantly, she went back to work.
2 She has remained on therapy and continues to do
3 well.

4 Here's another example of a patient with a
5 tumor of the ankle who improved dramatically
6 despite not having an objective RECIST response.
7 At baseline, his mobility was greatly impacted and
8 he was planning to quit work. After 18 months of
9 treatment, his ankle was correctly aligned, and he
10 has returned to his hobbies such as playing golf
11 and tennis.

12 Finally, this patient was diagnosed in 1988
13 and had no other option but surgery. Over nearly
14 25 years, she had more than 20 surgeries and
15 required regular red blood cell transfusions due to
16 the inflammatory nature of this disease. This
17 patient presented to medical oncology with horrible
18 disfigurement and functional impairment.

19 Again, the patient had a profound response
20 to pexidartinib on the ENLIVEN study with limited
21 toxicity, and she remains on treatment today after
22 2 and a half years with continued regression of her

1 tumor. Her worst pain score went from 5.6 at
2 baseline to 0.6 at week 25.

3 These are the patients facing the dilemma
4 that the proposed indication addresses and for whom
5 the benefit of pexidartinib outweighs the risks.
6 For the medical community, having pexidartinib
7 allows for a personalized, multidisciplinary
8 approach to care for a previously neglected
9 disease. For the patient, pexidartinib can
10 transform their journey and take us beyond morbid
11 surgeries. As ENLIVEN showed us, pexidartinib
12 allows these patients to return to work, resume
13 their hobbies, and move on with their lives.

14 Thank you. This concludes the sponsor
15 presentation. We are looking forward to your
16 questions.

17

18 DR. RINI: Thank you. We'll now proceed
19 with presentations from FDA.

20 **FDA Presentation - Christy Osgood**

21 DR. OSGOOD: Good morning. My name is
22 Dr. Christy Osgood, and I am the clinical reviewer

1 for the new drug application 211810, pexidartinib,
2 submitted by Daiichi Sankyo, referred to as the
3 applicant for the remainder of this presentation.
4 I, along with my colleague, Dr. Mallorie Fiero, the
5 statistical reviewer of this application, will be
6 giving the FDA presentation for today's ODAC.

7 This is the multidisciplinary FDA review
8 team for the pexidartinib application. The main
9 issues with the new drug application include the
10 assessment of clinical benefit and characterization
11 of liver injury caused by pexidartinib.

12 The assessment of benefit of pexidartinib in
13 patients with tenosynovial giant cell tumor, or
14 TGCT, is based on a statistically significant
15 improvement in overall response rate when
16 pexidartinib is compared to placebo.

17 In a slow-growing disease that is
18 progressive and debilitating, the result of overall
19 response rate generally requires supportive
20 efficacy data. Clinical outcome assessments were
21 planned to provide evidence of alleviation of the
22 symptomatic aspects of TGCT. The analysis of some

1 of the clinical outcome assessment secondary
2 endpoints demonstrated a statistically significant
3 improvement in mean change from baseline to
4 week 25.

5 The interpretability of these results is
6 limited due to several factors, including
7 uncertainty regarding the threshold for what
8 constitutes a clinically meaningful within patient
9 change in range of motion and a high level of
10 missing data at week 25.

11 For the second issue, pexidartinib causes
12 liver injury. The majority of patients who receive
13 pexidartinib will experience elevations in
14 transaminase values; 2 to 5 percent of patients
15 experience more severe liver injury. Additionally,
16 2 of the 768 patients treated with pexidartinib
17 experienced irreversible hepatotoxicity. One
18 patient died and the other required a liver
19 transplant.

20 Liver biopsies show a pattern of bile duct
21 injury evidenced by ductopenia and cholestasis.
22 This raises concern that the liver injury may be

1 progressive, subacute, or chronic, and potentially
2 lead to clinically important sequelae.
3 Additionally, across the development program, a
4 small number of patients have been treated for more
5 than one to two years. Therefore, there is a lack
6 of understanding of the potential long-term effects
7 of pexidartinib.

8 This is the outline of our presentation. We
9 will begin with a background followed by the
10 efficacy and safety results in issues. We will
11 conclude with our questions for the advisory
12 committee. We will begin with the background for
13 the application.

14 As the applicant has already presented, this
15 slide shows the proposed indication and dosing
16 regimen. TGCT is a rare non-malignant tumor
17 affecting the synovium and tendon sheaths.
18 Although it is not a malignant disease, it does
19 cause significant progressive and debilitating
20 symptoms, including pain, stiffness, and functional
21 impairment. Surgery is the primary treatment. In
22 patients with unresectable disease, there are no

1 approved systemic therapies.

2 FDA agrees with the regulatory history as
3 presented by the applicant. FDA would like to
4 provide additional details about the events
5 important to our discussion today. As noted by the
6 red boxes, the pexidartinib clinical development
7 program was placed on partial hold on two separate
8 occasions for severe events of hyperbilirubinemia
9 and liver injury.

10 As a result of these two clinical holds,
11 additional risk mitigation strategies were
12 incorporated in the protocol and the development
13 program as outlined in the purple box.

14 Additionally, as outlined in the orange
15 boxes, the applicant proposed to revise the
16 ordering of secondary endpoints due to a large
17 amount of missing data at week 25. A meeting was
18 held at FDA after which the applicant decided to
19 reorder the endpoints prior to any unblinding of
20 the data.

21 Now, Dr. Fiero will present the efficacy
22 results and issues with the application.

1 **FDA Presentation - Mallorie Fiero**

2 DR. FIERO: Thank you, Dr. Osgood.

3 My name is Mallorie Fiero, and I am the
4 statistical reviewer for this application. First,
5 I will describe the estimation of clinical benefit
6 with efficacy results, some of which have already
7 been presented by the applicant.

8 With that, I will detail our concerns of
9 estimating the treatment effect of secondary
10 efficacy endpoints due to substantial missing data,
11 then I will interpret our assessment of clinical
12 benefit of secondary endpoints, which entailed
13 addressing several issues that limit the
14 interpretability of the observed result, including
15 issues of revising the hierarchical testing plan
16 for secondary endpoints; after trial completion;
17 and limited information regarding the clinical
18 meaningfulness of the secondary endpoints.

19 There were two studies that provided
20 evidence of clinical efficacy of pexidartinib on
21 the TGCT population, the ENLIVEN trial and study
22 PLX108-01. The trial designs and characteristics

1 summarized in this table were also presented by the
2 applicant. The pivotal study of ENLIVEN was double
3 blind with 61 patients randomized to pexidartinib
4 and 59 patients randomized to placebo.

5 FDA concurs that ENLIVEN demonstrated a
6 statistically significant difference in the primary
7 efficacy endpoint of overall response rate, or ORR,
8 between pexidartinib and placebo. At week 25, the
9 ORR was 38 percent in the pexidartinib arm compared
10 to no patients achieving a response in the placebo
11 arm.

12 It is noteworthy that at the data cutoff
13 date of March 27, 2017, the ORR was 39 percent in
14 the pexidartinib arm as reported in the briefing
15 document. The results presented in this slide
16 reflect the correction and ORR at week 25 after the
17 applicant's reassessment of scans based on the
18 later data cutoff date of January 31, 2018.

19 Among the patients with a confirmed response
20 in ENLIVEN, only one patient had progressive
21 disease in follow up. Tumor response was durable
22 among patients who were followed for 6 months or

1 longer. The supportive study PLX108-01 showed an
2 ORR of 49 percent at week 25.

3 The primary efficacy analysis for ENLIVEN
4 was prespecified at a landmark of 25 weeks.

5 However, with longer follow-up, tumor response
6 rates were as high as 52 percent for patients
7 randomized in pexidartinib, 2 in ENLIVEN, and
8 62 percent for patients in study PLX108-01.

9 As previously described by my clinical
10 colleagues, TGCT can be debilitating, but the tumor
11 is slow growing. These characteristics pose a
12 challenge in evaluating the durability of tumor
13 responses in the ENLIVEN trial. Patients with TGCT
14 experienced symptoms such as pain, stiffness,
15 swelling, and impairment in range of motion, which
16 can cause severe functional impairment. Therefore,
17 the assessment of clinical benefit of pexidartinib
18 can be supported by the alleviation of symptomatic
19 aspects of TGCT.

20 The applicant proposed clinical outcome
21 assessments as secondary endpoints to assess TGCT's
22 specific symptoms and functional impacts. A

1 clinical outcome assessment, or COA, is a measure
2 that describes how a patient feels, functions, or
3 survives. A key issue for this application is
4 whether the results of the COA endpoints provide
5 evidence of benefit for the functional impacts of
6 TGCT.

7 In this application, a substantial amount of
8 missing data was observed for the secondary COA
9 endpoints. The FDA guidance for industry on
10 patient-reported outcome measures states that
11 missing data are a potential source of bias and can
12 compromise the advantages created by randomization.

13 As highlighted in the red box, the
14 proportions of missing data for the 4 COA endpoints
15 in ENLIVEN ranged from 27 to 43 percent. Reasons
16 for missing data included discontinuation due to
17 adverse event, patient noncompliance, and
18 administrative issues.

19 The percent of missing data for physical
20 function, worst stiffness, and brief pain
21 inventory, worst pain response, or BPI 30, is much
22 higher than what is acceptable for reliable

1 estimation of clinical benefit. Therefore, we
2 focused on interpreting range of motion, which was
3 reported by a blinded third party assessor but
4 still had over a quarter missing data at the time
5 of the primary analysis.

6 The ENLIVEN trial demonstrated a
7 statistically significant improvement in mean
8 change from baseline range of motion at week 25 for
9 the pexidartinib arm compared to the placebo arm.
10 The trajectories of mean change from baseline in
11 range of motion by treatment arm are shown in the
12 figure on the left. At week 25, there was an
13 average of 15 percent within patient improvement in
14 the pexidartinib arm compared to a 6 percent within
15 patient improvement in the placebo arm.

16 The sample sizes below the trajectories show
17 that there were 27 percent missing in the placebo
18 arm and 26 percent missing in the pexidartinib at
19 week 25. The waterfall plot of change from
20 baseline at week 25 by treatment arm is shown in
21 the figure on the right, where the red bars
22 indicate pexidartinib patients and the blue bars

1 indicate placebo patients. All bars above the
2 X-axis indicate an improvement in range of motion
3 from baseline. The plot shows that the majority of
4 patients in the pexidartinib arm improved in range
5 of motion.

6 An exploratory analysis of range of motion
7 by tumor response showed that improvement in range
8 of motion was, on average, higher for responders
9 compared to non-responders in the pexidartinib arm
10 as shown in this figure. It is notable that the
11 percent of missing range of motion assessments was
12 less for patients who had a tumor response.

13 Though the analysis of range of motion was
14 not precluded due to missing data, the proportion
15 of patients with missing week 25 assessments is not
16 minimal and can cause bias in the estimation of
17 effect. One concern is that is that missing data
18 may be informative, which means that missingness
19 could be related to the range of motion score even
20 after adjusting for observed data.

21 For example, patients with missing range of
22 motion assessments may be missing because their

1 worsened range of motion affects the patient's
2 willingness or ability to complete an assessment.
3 Thus, the results of range of motion may lead to
4 biased interpretation because only patients who
5 were potentially well enough were assessed.

6 In the ENLIVEN trial, although the percent
7 of missing range of motion data was similar across
8 the two treatment arms, the reasons for missing
9 data were different for each arm. Half of the
10 patients in the pexidartinib arm were missing due
11 to adverse event, while patients in the placebo arm
12 were mostly missing due to reasons such as
13 withdrawal by patient or investigator decision.
14 The differential reasons for missing data across
15 arms could indicate informative missingness.

16 The FDA and the applicant performed multiple
17 post hoc sensitivity analyses to address the
18 concern of informative missingness for range of
19 motion. In other words, we evaluated how much
20 results change if we assumed patients with missing
21 range of motion assessments were worse than what
22 was assumed in the prespecified analysis.

1 The applicant presented a sensitivity
2 analysis that included only patients with baseline
3 and post-baseline assessments. However, this
4 sensitivity analysis did not address the concern of
5 informative missingness.

6 Another type of sensitivity analysis is a
7 tipping-point analysis. This is a conservative
8 approach in which data are imputed to identify a
9 tipping point that will reverse the study's
10 conclusion. Thus, the purpose of this
11 tipping-point analysis is to determine the percent
12 range of motion worsening needed in the
13 pexidartinib arm to reverse significance with a p-
14 value greater than 0.05.

15 A simplified plot demonstrating the
16 tipping-point analysis is shown in the figure on
17 the right. The solid black line shows the
18 trajectory of mean change from baseline at week 25
19 for the 45 patients with observed range of motion
20 data. The dotted lines show the trajectories of
21 the assumed mean change from baseline for the 16
22 patients with missing range of motion data.

1 More specifically, the prespecified analysis
2 model assumes the patients with missing data have a
3 slightly worsened range of motion improvement from
4 baseline compared to the observed patients as
5 indicated by the blue dotted line.

6 The tipping-point analysis assumes that
7 patients with missing data have an even worsened
8 range of motion improvement from baseline by 12
9 percent as indicated by the red dotted line. This
10 was the point in which the overall effect of
11 pexidartinib on range of motion was not
12 statistically different from placebo.

13 Overall, the analyses show that there
14 appears to be a treatment benefit of pexidartinib
15 on range of motion, but due to missing data, the
16 magnitude is unclear. Based on the prespecified
17 and sensitivity analyses, the estimated within
18 patient range of motion improvement in the
19 pexidartinib arm ranged from 7 to 19 percent.

20 Next, I will discuss FDA's evaluation of the
21 interpretation of the observed results for range of
22 motion, which was limited by several factors.

1 First, I will discuss the change in the
2 hierarchical order of secondary endpoints made by
3 the applicant. Next, we evaluate potential
4 unblinding of clinical assessors due to changes in
5 hair color while on pexidartinib. Finally, we
6 assess what constitutes a meaningful change in
7 range of motion from the patient perspective.

8 Prior to unblinding the data, the applicant
9 discovered a substantial amount of missing week 25
10 COA assessments specifically for the
11 patient-reported endpoints of physical function,
12 worst stiffness, and brief pain inventory worst
13 pain response, or BPI 30. The applicant
14 subsequently revised the hierarchical testing order
15 of the secondary endpoints in the final version of
16 the statistical analysis plan.

17 The applicant moved BPI 30 from the first to
18 the last position, and range of motion was moved
19 from the third to the first position in the
20 hierarchy of secondary endpoints due to the higher
21 completion rate. In general, changing the
22 statistical analysis plan after trial completion is

1 strongly discouraged due to introduction of
2 potential bias. However, FDA acknowledged the
3 concern regarding the statistical validity of the
4 originally proposed hierarchical analysis given the
5 substantial amount of missing data.

6 Ultimately, it was the applicant's decision
7 to change the hierarchy of secondary endpoints and
8 is acknowledged as a weakness of the ENLIVEN
9 results. It is noteworthy that the change in the
10 hierarchy of testing did affect the statistical
11 conduct of the study. Since BPI 30 was not
12 statistically significant and was originally the
13 first secondary endpoint to be tested, range of
14 motion would not have been tested for inference.

15 Although range of motion was evaluated by a
16 blinded third-party assessor, there was potential
17 for unblinding because hair color change to white
18 for 67 percent of the patients on pexidartinib.
19 Since ENLIVEN is a double-blind study, this can
20 cause unblinding of the clinical assessors, leading
21 to potential bias and reporting of range of motion.
22 Our exploratory subgroup analysis did not show any

1 differences in range of motion between patients
2 whose hair color changed to white compared to those
3 whose hair colored did not change.

4 Finally, we assessed what constitutes a
5 meaningful change in range of motion from the
6 patient perspective. Although there was a
7 statistically significant difference between
8 treatment arms for range of motion, this does not
9 necessarily mean that patients experienced a
10 clinically meaningful benefit. In general, FDA
11 request that applicants propose and justify
12 appropriate thresholds that would constitute as a
13 clinically meaningful within patient change in the
14 COA score of the target patient population.

15 Per the FDA guidance on patient-reported
16 outcome measures, FDA encourages anchor-based
17 methods to establish a threshold of clinical
18 meaningfulness. An anchor-based approach would
19 have evaluated the relationship between range of
20 motion and another independent measure, such as
21 another COA, to determine a clinically meaningful
22 change. However, due to the substantial amount of

1 missing data and all other COA endpoints and global
2 scales, this approach was not feasible.

3 The applicant proposed a positive 6.7
4 percent threshold for what constitutes a clinically
5 meaningful within patient change for range of
6 motion at the knee. The normal range of motion for
7 the knee is 150 degrees, so a 6.7 percent
8 improvement corresponds to a 10-degree improvement
9 for the knee.

10 The applicant stated that a threshold was
11 proposed for the knee only because there is no
12 widely used standard of improvement in range of
13 motion for other joints, as it depends on the
14 specific joint as well as the degree of impairment
15 at baseline. Additionally, the applicant's
16 justification for this threshold at the knee was
17 based on input from a single expert and review of
18 literature, which is also very limited.

19 It is noteworthy that there may be a range
20 of thresholds that could be interpreted from
21 available literature. However, which thresholds
22 would be meaningful have not been established.

1 The waterfall plot shown here presents the
2 change from baseline range of motion score at
3 week 25 for patients whose tumor location was at
4 the knee. The red bars indicate the pexidartinib
5 patients who had a tumor response, the purple bars
6 indicate the pexidartinib patients who had no tumor
7 response, and the blue bars indicate placebo
8 patients who had no tumor response.

9 All bars above the X-axis indicate an
10 improvement in range of motion from baseline. Note
11 that 26 percent of patients had a missing
12 assessment at week 25.

13 The dashed line shows that the 6 percent
14 threshold that the applicant proposed is what
15 constitutes a clinically meaningful within patient
16 change. Forty-one percent of the patients in the
17 pexidartinib arm and 18 percent of the patients in
18 the placebo arm had a clinically meaningful
19 improvement in range of motion in the knee,
20 assuming a 6.7 percent threshold is acceptable.
21 However, due to limited justification, it remains
22 unclear whether a 6.7 percent improvement

1 represents a clinical benefit to patients whose
2 tumor location is at the knee.

3 In summary, we concur with the applicant
4 that the trial demonstrated a statistically
5 significant improvement in ORR and range of motion
6 for pexidartinib compared to placebo. Although a
7 treatment benefit was demonstrated for range of
8 motion, the interpretation of the effect is unclear
9 due to missing data and limited information on
10 clinical meaningfulness for the target patient
11 population in ENLIVEN. The magnitude of
12 improvement from baseline for patients on the
13 pexidartinib arm was estimated to range from 7 to
14 19 percent with a lower estimate for the knee being
15 as low as 6 percent.

16 Next, Dr. Osgood will continue the
17 presentation with the safety evaluation.

18 **FDA Presentation - Christy Osgood**

19 DR. OSGOOD: Thank you, Dr. Fiero.

20 FDA based the primary evaluation for the
21 safety of pexidartinib in the TGCT population on
22 the ENLIVEN trial. The overall safety evaluation

1 of pexidartinib is presented for the first 25 weeks
2 of treatment in order to allow for a comparison
3 between the 61 patients randomized to pexidartinib
4 and the 59 patients randomized to placebo.

5 This evaluation of safety included an
6 analysis of adverse events, laboratory assessments,
7 patient narratives, case report forms, and liver
8 biopsy reports when available. Additionally, in
9 order to better understand the liver injury in the
10 indicated population, FDA performed a detailed
11 evaluation of laboratory assessments, adverse
12 events, and patient narratives for the entire TGCT
13 population that received pexidartinib, which
14 included 130 patients.

15 This slide presents an overview of the
16 safety from the first 25 weeks of ENLIVEN. Almost
17 all the patients in each arm experienced an adverse
18 event. Notably, a higher proportion of the
19 patients randomized to pexidartinib experienced a
20 grade 3 or 4 adverse event, a serious adverse
21 event, or an adverse event leading to
22 discontinuation, dose reduction, or dose

1 interruption when compared to patients randomized
2 to placebo.

3 Adverse events reported in more than 20
4 percent of patients in the pexidartinib arm are
5 displayed in this table. Most relevant to our
6 discussion today, 39 percent of patients
7 experienced an increased AST and 28 percent of
8 patients experienced an increase in ALT as reported
9 by the investigator.

10 Due to the fact that adverse events are only
11 recorded when an investigator report to them,
12 adverse event analysis may not capture all cases of
13 liver injury that occur in a development program.
14 Analysis of the clinical laboratory values may
15 provide a more complete picture of patients with
16 TGCT who experience liver injury throughout the
17 pexidartinib development program.

18 The third column of this table, highlighted
19 by the red box, displays the proportion of patients
20 randomized to pexidartinib in part 1 of ENLIVEN
21 that had elevated transaminases and/or bilirubin.
22 The majority of patients treated with pexidartinib

1 experienced elevated ALT and AST, and a third of
2 patients experienced an AST or ALT value at least
3 3 times the upper limit of normal.

4 Twelve percent of patients experienced
5 elevated total bilirubin when compared to baseline.
6 As seen in the last column, evaluations of AST,
7 ALT, and bilirubin in the pooled TGCT population
8 showed a similar pattern to the patients with
9 pexidartinib in part 1 of ENLIVEN.

10 According to the FDA guidance on
11 drug-induced liver injury, Hy's law can be used to
12 identify cases of drugs causing hepatocellular
13 injury sufficient to impair bilirubin excretion.
14 To be a Hy's law case, all of the following
15 criteria must be met: an AST or ALT greater than 3
16 times the upper limit of normal and a total
17 bilirubin greater than 2 times the upper limit of
18 normal without initial findings of cholestasis
19 evidenced by increased alkaline phosphatase, and no
20 other reason for the combination of increased
21 transaminases and total bilirubin may identified.

22 For ENLIVEN, strict Hy's law criteria could

1 not be used to identify patients with severe liver
2 injury because all the patients with an increase in
3 ALT or AST and total bilirubin had a concomitant
4 elevation in alkaline phosphatase. Given that bile
5 duct injury has been observed with pexidartinib
6 use, the presence of cholestasis represented by an
7 increase in alkaline phosphatase may not represent
8 a separate process.

9 Therefore, we used the criteria of a total
10 bilirubin greater than equal to 2 times the upper
11 limit of normal and an AST or ALT greater than or
12 equal to 3 times the upper limit of normal,
13 regardless of alkaline phosphatase elevation to
14 identify patients with severe liver injury.

15 Using this definition, 4.9 percent of
16 patients in part 1 of ENLIVEN and 3.1 percent of
17 the pooled TGCT population treated with
18 pexidartinib experienced lab abnormalities
19 associated with severe liver injury, as highlighted
20 by the red box in the table.

21 The majority of the AST and ALT elevations
22 that incurred in part 1 of ENLIVEN occurred during

1 the first 2 months of therapy with pexidartinib.
2 This figure shows an analysis of the timing of
3 transaminase elevations based on laboratory data.
4 On the X-axis are the weeks relative to when the
5 patients started therapy, and on the Y-axis is the
6 result of an AST or ALT lab compared to the upper
7 limit of normal.

8 The gray line denotes the upper limit of
9 normal. The initial elevations in most patients
10 occurred prior to week 9, and the remaining
11 elevated values represent patients who reoccurred
12 with rechallenge or patients as they recovered from
13 their liver injury. A similar pattern was seen
14 with the elevations in bilirubin experienced by
15 patients treated with pexidartinib in part 1 of
16 ENLIVEN.

17 In order to address the increases in
18 transaminase and bilirubin observed in ENLIVEN,
19 management guidelines for cholestatic
20 hepatotoxicity were provided in the study protocol.
21 In response to the two partial clinical holds,
22 laboratory monitoring was increased and dose

1 modification and discontinuations occurred at lower
2 AST, ALT, and bilirubin values.

3 The table on this slide displays the dose
4 modification guidelines from the latest version of
5 the protocol and show that for an AST or ALT
6 elevation greater than 3 times the upper limit of
7 normal, pexidartinib should be interrupted and only
8 restarted once AST or ALT improved. And if the ALT
9 or AST increase occurs concomitantly with an
10 increase in bilirubin, pexidartinib should be
11 permanently discontinued.

12 Fifty-five of the 61 patients in part 1 of
13 ENLIVEN experienced elevated liver transaminases
14 and/or bilirubin. Based on the dose modification
15 guidelines provided in the protocol and keeping in
16 mind that the dose modification guidelines changed
17 over time, 40 of the patients required no
18 intervention. Eight patients required dose
19 interruption.

20 Of the 8 patients who required dose
21 interruption, 4 successfully resumed pexidartinib
22 either at the same or a reduced dose and were able

1 to stay on pexidartinib for the long term, and 4
2 had recurrence of transaminase elevations following
3 rechallenge, ultimately leading to permanent
4 discontinuation of pexidartinib; 4 patients had
5 permanent discontinuation of pexidartinib without
6 rechallenge, and 3 patients exclusively had dose
7 reduction without an interruption.

8 All of the 55 patients in part 1 of ENLIVEN
9 who experienced elevated transaminases and/or
10 bilirubin improved. Although the majority of
11 patients had laboratory values that returned to
12 within normal limits or their baseline,
13 18 patients did not recover to within normal
14 limits. Fifteen of these patients improved to 1.1
15 to 2 times the upper limit of normal, and 3 of
16 these patients improved to 2 to 2.7 times the upper
17 limit of normal.

18 This slide provides additional details about
19 the outcome of the 3 patients in part 1 of ENLIVEN
20 who experienced lab abnormalities consistent with
21 severe liver injury defined by a total bilirubin
22 greater than or equal to 2 times the upper limit of

1 normal and an AST or ALT greater than equal to
2 3 times the upper limit of normal. All of these
3 patients had pexidartinib permanently discontinued
4 at the first occurrence of liver injury.

5 Despite discontinuation of pexidartinib,
6 these patients had laboratory abnormalities that
7 continued to increase. Most notably, total
8 bilirubin continued to increase to peaks ranging
9 from 7 times the upper limit of normal to 15 times
10 the upper limit of normal. Additionally, there
11 direct bilirubin values ranged from 4 times the
12 upper limit of normal to 84 times the upper limit
13 of normal.

14 Finally, patients had prolonged recovery
15 times ranging from 2 to 7 months, and 2 of the
16 patients required treatment beyond discontinuation
17 of pexidartinib, including hospitalization, in
18 order to recover from their significant liver
19 injury.

20 In order to provide a more complete analysis
21 of the liver injury caused by pexidartinib, the
22 applicant provided a broader safety database that

1 included 768 patients; 630 from commercially
2 sponsored trials and an additional 138 patients
3 from investigator-initiated trials. In the broader
4 database of patients enrolled in
5 commercially-sponsored trials, the incidence of
6 patients who experienced labs consistent with
7 severe liver injury was 2.5 percent.

8 Given this information and a review of the
9 cases of severe liver injury, this broader patient
10 population did not change the overall conclusion
11 about hepatotoxicity. However, the broader safety
12 database provided 2 cases of irreversible liver
13 injury.

14 This slide provides a summary of the
15 relevant clinical course for the two cases of
16 irreversible liver injury. The first patient had
17 early-stage breast cancer and was enrolled in an
18 investigator-initiated trial. She received
19 pexidartinib in combination with paclitaxel as
20 adjuvant therapy and experienced transaminase and
21 bilirubin elevations.

22 During her initial workup for elevated

1 transaminases and bilirubin, it was discovered that
2 she had cholecystitis and was treated with a
3 cholecystectomy and antibiotic therapy. Despite
4 optimal treatment for her cholecystitis and
5 permanent discontinuation of pexidartinib and
6 paclitaxel, she progressed to liver failure and
7 received a liver transplant 20 months after
8 initiating pexidartinib.

9 The second patient had metastatic melanoma
10 with metastatic disease in the liver, and was
11 treated with the chemotherapeutic agent seen here.
12 Upon the first occurrence of liver injury, the
13 patient had all treatments for melanoma
14 discontinued. The patient's liver injury was
15 treated with up to 20 unspecified herbal remedies
16 as liver-protecting therapy.

17 Additionally, she received bilirubin
18 absorption therapy and other unspecified
19 liver-protecting therapy. She eventually refused
20 further medical treatment as well as food and
21 water. Her liver failure progressed, and she died
22 4 months after initiating pexidartinib therapy.

1 The overall safety database also provided
2 biopsy results for 8 of the 768 patients who were
3 treated with pexidartinib as a single agent or in
4 combination with chemotherapy or targeted agents.
5 One of these biopsies was obtained in a patient
6 enrolled in ENLIVEN, while the other 7 were
7 obtained in patients with other solid tumors.

8 Seven of these biopsies showed ductopenia,
9 cholestasis, or bile duct injury. The eighth
10 patient had a biopsy that revealed mild apoptotic
11 hepatocellular injury with minimal inflammation and
12 no fibrosis. No patients had a second biopsy to
13 evaluate for progression or resolution of their
14 biopsy findings after hepatic laboratory values
15 normalized.

16 Given the data provided by the applicant,
17 FDA has concluded that pexidartinib causes liver
18 injury. Across the development program, 0.3
19 percent of patients experienced irreversible liver
20 injury. Although none of the cases of irreversible
21 liver injury occurred in a patient with TGCT,
22 3.1 percent of patients with TGCT and 4.9 percent

1 of patients in part 1 of ENLIVEN who received
2 pexidartinib had laboratory values consistent with
3 severe liver injury.

4 In ENLIVEN, the majority of patients who
5 receive pexidartinib experienced elevations in
6 liver transaminases and 12 percent of patients
7 experienced an increase in bilirubin. Although all
8 of the patients in ENLIVEN improved with no
9 intervention, dose reduction, dose interruption,
10 and/or discontinuation, there were two cases that
11 had a prolonged time to recovery, requiring more
12 intervention.

13 Although the ENLIVEN trial and the broader
14 safety database has identified liver injury related
15 to treatment with pexidartinib, there remain
16 uncertainties. The mechanism of action causing
17 bile duct injury is unknown. Liver biopsies were
18 obtained in only 8 of the 768 patients in the
19 pexidartinib safety database, and therefore, it is
20 uncertain how many patients with elevated
21 transaminases and bilirubin experienced bile duct
22 injury upon exposure to pexidartinib

1 The biopsies that were obtained reveal a
2 pattern of injury to bile ducts and ductopenia.
3 Because serial biopsies were not performed in any
4 patients, it is unknown whether the injury to bile
5 ducts is progressive and whether it occurs even in
6 the setting of an improvement or normalization of
7 biochemical laboratory parameters.

8 Furthermore, it is unclear whether
9 pexidartinib causes subacute and/or chronic or
10 indolent injury that may result in cirrhosis and
11 liver failure, leading to the need for a liver
12 transplant or causing death. Therefore, it is
13 unclear whether measures taken to achieve
14 normalization of transaminase addresses any
15 subclinical effects of the drug on the liver.

16 An additional area of uncertainty is the
17 potential long-term effects of pexidartinib. In
18 the TGCT population, pexidartinib will be indicated
19 for long-term use, and the effects of long-term
20 exposure have not been defined. Only 69 patients
21 have been treated for more than 18 months, and only
22 8 patients have been treated for more than 4 years.

1 This limited experience does not provide
2 comprehensive data to evaluate what will happen
3 with long-term exposure to pexidartinib.

4 To help mitigate the risk of liver injury
5 due to pexidartinib, the applicant is proposing a
6 risk evaluation and mitigation strategy, or REMS.
7 The FDA can require sponsors to develop and comply
8 with REMS programs, if determined necessary, to
9 ensure the benefits of a drug outweigh its risks.
10 A REMS with elements to assure safe use, or ETASU,
11 can be required for a drug if FDA determines that
12 the product is effective but is associated with a
13 serious risk and can be approved only if such a
14 strategy is in place to ensure the benefits
15 outweigh the risks.

16 Given the risks that have been discussed in
17 this presentation, FDA feels that a REMS is
18 necessary to try and prevent the risk or reduce the
19 severity of the risk, and to collect more
20 information about the risk of hepatotoxicity
21 associated with long-term use.

22 The proposed REMS consists of a

1 communication plan and elements to assure safe use.
2 The communication plan will inform likely
3 prescribers about the indicated population and the
4 serious risk of liver injury. The elements to
5 ensure safe use include prescriber education and
6 certification to ensure that prescribers are
7 educated on the risks, the need for frequent
8 laboratory monitoring, and to counsel patients.

9 Each patient will be required to enroll in a
10 patient registry to assess postmarketing safe use
11 and collect more information to further
12 characterize the risks of hepatotoxicity.
13 Additionally, there will be a pharmacy
14 certification to ensure that each prescriber is
15 educated, that the patient is enrolled in the
16 registry prior to dispensing, and that pharmacies
17 only dispense a 30-day supply of the drug.

18 The purpose of the patient registry is to
19 assess postmarketing safe use and further
20 characterize the acute chronic and irreversible
21 hepatotoxicity. The registry will enable
22 collection of baseline information, including

1 laboratory values and concomitant medications.

2 The registry will require periodic status
3 reports on each patient. The status reports will
4 include information on each patient and any events
5 they may experience, including information about
6 diagnostic workup in patients who experience acute
7 long-term or irreversible liver toxicity.

8 In conclusion, patients with symptomatic
9 TGCT, which is associated with severe morbidity or
10 functional limitations and which is not amenable to
11 improvement with surgery, have no available
12 therapies.

13 Pexidartinib has demonstrated a
14 statistically significant improvement in ORR and
15 range of motion. However, there are limitations in
16 interpreting the results for range of motion due to
17 missing data and insufficient evidence for a
18 clinically meaningful threshold for improvement.

19 Additionally, it is known that pexidartinib
20 causes liver injury that may be severe or
21 irreversible. This liver injury has not been
22 completely characterized or defined for the

1 population that will receive pexidartinib for
2 long-term use if pexidartinib is approved for the
3 treatment of patients with symptomatic TGCT.

4 FDA will now present the discussion topics
5 and questions for the ODAC. FDA's discussion topic
6 is discussed whether the benefits of pexidartinib,
7 as characterized by a clinically meaningful
8 reduction in tumor burden and an improvement in
9 range of motion, outweigh the risk of
10 hepatotoxicity.

11 The voting question is, does the
12 demonstrated benefit of pexidartinib outweigh the
13 risks of the drug in the proposed indication?
14 Thank you.

15 **Clarifying Questions**

16 DR. RINI: Thank you.

17 We'll now take clarifying questions for any
18 of the presenters. Please remember to state your
19 name for the record before you speak, and you can
20 direct your questions to a specific presenter. If
21 you just give Jennifer or myself a wave, if you
22 have a question, we will write down a list and get

1 to all of you.

2 I'll go ahead and start. If the applicant
3 could pull up slide CE-11? This side at the bottom
4 shows predicted probability of complete resection.
5 I have a question maybe for the orthopedic surgeon,
6 and this relates to your indication wording, the
7 last part of which is not amenable to improvement
8 with surgery.

9 I guess the question is, if this drug is
10 approved and gets out into the community, how
11 translatable is that adjudication committee to a
12 community setting, where an average orthopedic may
13 have limited experience, or how do you actually
14 predict that?

15 MR. RICHARDS: I'll invite Dr. Bernthal to
16 address this question.

17 DR. BERNTHAL: Nick Bernthal, UCLA. The
18 spectrum of disease that we see in TGCT is quite
19 broad, and, admittedly, most of the orthopedic
20 surgeons in the community are going to see
21 localized disease, and that's the bulk of what we
22 see.

1 If you don't mind bringing up the shoulder
2 film, please?

3 As far as making a distinction between
4 patients for whom this risk-benefit analysis makes
5 sense, really. this is quite -- it's more dramatic
6 and clear than I think we've been successful at
7 showing. This is a patient who walked into my
8 clinic earlier this month, and this is a patient
9 who had a shoulder replacement, a proximal humerus
10 placed 20 years ago, and has gone physician to
11 physician with a non-functional arm for the last
12 20 years.

13 You can see that the tumor has eroded out
14 all of the bone in the humerus. For those who
15 aren't used to looking at films like this, there's
16 also a soft tissue shadow of tumor going up over
17 the clavicle and up into the neck. This is a
18 patient for whom it's very clear that there is no
19 surgical option. An amputation would not solve
20 this patient's tumor burden because it's going up
21 into the neck.

22 I think every patient with TGCT, with

1 diffuse type and recalcitrant TGCT, is going to
2 have to have this decision made by a
3 multidisciplinary team. My belief is that the
4 dramatic majority of these patients are referred in
5 to tertiary centers that have multidisciplinary
6 tumor boards that are used to weighing risk-benefit
7 of surgery versus medical therapies.

8 DR. RINI: Thank you.

9 Dr. Hoffman?

10 DR. HOFFMAN: A question I think also for
11 Dr. Bernthal. In those patients with diffuse
12 disease who have required a joint replacement, how
13 common is it that there will be recurrence after
14 the joint replacement? We've seen some serious
15 examples, as you've shown us, but do the majority
16 of patients still wind up with difficulty there?

17 MR. RICHARDS: Dr. Bernthal?

18 DR. BERNTHAL: Nick Bernthal, UCLA. It's
19 varied, and it depends on the joint. The question
20 of recurrence in TGCT is a complex one because
21 oftentimes we have what is likely recurrent tumor
22 that may or may not be symptomatic. So often

1 patients who get joint replacement may well have
2 residual disease, but may have asymptomatic
3 disease. And once you put the metal in for a joint
4 replacement, getting MRIs and determining whether
5 there is in fact disease present is very difficult
6 radiographically.

7 To answer the question as clearly as I can,
8 I would say that in the hip joint specifically,
9 oftentimes arthroplasty procedures are more
10 successful at alleviating symptoms for patients,
11 but in the knee, we often get recurrent swelling
12 and pain around the arthroplasty procedure.

13 DR. LEWIS: But, Dr. Bernthal, wouldn't you
14 think that's the minority of patients? These were
15 very dramatic pictures and, I mean, excellent
16 presentations, but the recurrence in patients who
17 have had joint replacements, it would be the
18 minority of patients. So looking at this data in
19 the presentations, this is a drug for the minority
20 of the minority of patients.

21 I do have a question. In looking at the
22 data, if it's a drug, really, for the minority of

1 minority patients, only less than 30 percent of the
2 patients will respond to it.

3 DR. BERNTHAL: Nick Bernthal again, UCLA.

4 DR. LEWIS: That was Val Lewis, MD Anderson.
5 I'm sorry.

6 DR. BERNTHAL: I agree a hundred percent
7 that this is the minority of the minority. And as
8 we talk about the epidemiology, this is the subset
9 that's diffuse, the subset that is not cured by
10 surgery, and the subset that's recalcitrant. So
11 we're getting down the line to a very small number.
12 I agree with that a hundred percent.

13 Was there a second part of the question?

14 DR. LEWIS: Just listening to that, even
15 that subset, less than 50 percent will respond to
16 the drug, will have a dramatic response. Reading
17 through it last night and listening to the talk, it
18 seems great for less than 50 percent of patients
19 who get the drug.

20 DR. BERNTHAL: As far as the clinically
21 meaningful impact on patients, if you can bring up
22 the knee film. A lot of the data here clearly is

1 means, and with means, it's difficult to determine
2 the issue and improvement a single patient is going
3 to get. But I'd like to point out that when we
4 look at range of motion, say, the FDA's data that
5 was presented showed that the range, when you look
6 at the statistics top and bottom within patient, is
7 between 7 percent and 19 percent improvement.

8 If that's the mean, we're talking about the
9 average patient's response between 7 and 19 percent
10 within patient, remember that that's percentage
11 points. I think one of the things that's gotten
12 somewhat lost in the presentations and in the
13 clarity is that that's based on a 150-degree normal
14 knee. So you're multiplying every percentage by
15 1.5 to get what the range of motion improvement is.

16 So between 6 and 19 percent improvement is
17 really a 10 to 25 degree average patient response
18 to this, in the knee, which is the bulk of our
19 patients. When you look on the top-right of that
20 slide and you keep in mind what functional benefits
21 matter to patients, these activities of daily
22 living, between level walking and going up and

1 downstairs, that's a 15-degree difference. In and
2 out of a chair is only a 10-degree difference.

3 So when we're talking about improving the
4 average patient between 10 and 22-23 degrees, this
5 is really, really a dramatic impact for the
6 majority of patients.

7 DR. RINI: Thank you.

8 DR. LEWIS: Thank you.

9 DR. RINI: Dr. Weinfurt?

10 DR. WEINFURT: Kevin Weinfurt. I'm a little
11 bit confused about the reasons for missingness the
12 COA data. I guess I've heard and read a few
13 different things, and I'm just trying to get a
14 handle on this.

15 In the briefing document we were given from
16 the sponsor, on page 58, the reasons are broken out
17 with respect to discontinuation or other reason,
18 and in the description of what goes into each of
19 those, I noted that patient noncompliance was
20 listed as being included under each of those.

21 So I was a little bit confused about where
22 patient noncompliance went, and I think that

1 Dr. Fiero also referenced data, but we didn't see
2 it, about a higher prevalence of AE related reasons
3 for missing. This is kind of an important question
4 about these missing data, and it would be great to
5 get some clarification about the actual
6 distribution of reasons by arm.

7 MR. RICHARDS: We'd be happy to do that.
8 I'd like to invite Dr. Shuster to walk us through
9 the different reasons for missing data.

10 DR. SHUSTER: Dale Shuster of Daiichi
11 Sankyo. Allow me to put more detail on those
12 reasons for missingness as you requested.

13 If we start with the patient
14 disposition -- this was a slide presented by
15 Dr. Tap -- as you noted, several patients came off
16 early. This was before week 25. On the
17 pexidartinib arm, there were 9, and 8 of those were
18 due to an adverse event.

19 On the placebo arm, there were 11, and the
20 majority of those were due to withdrawal of consent
21 and an investigator decision. On the placebo arm,
22 most of those came off after the DMC recommendation

1 and changed the study conduct. That gives the one
2 group that we talk about, the patients who
3 discontinued.

4 If we then look at the other reasons and
5 look at them in more depth, this is looking at
6 stiffness, one of the PROs. The other reasons are
7 shown here over time. If you look at week 25, and
8 the primary analysis time point is done, then you
9 can see that the other reasons comprise 8 -- 18
10 pexidartinib and 12 on the placebo.

11 Now, if you look at what are more detail
12 about those other reasons, you'll find that the
13 first category, site scheduling of visit, is where
14 most of these are. Site scheduling of visit, allow
15 me to explain what this entails.

16 The patient-reported outcomes are entered on
17 an electronic log pad. Those log pads are kept by
18 the patients at home. For them to enter the
19 patient-reported outcome for stiffness, which is
20 reported each day for 7 days before clinic visit,
21 the device needs to be programmed in such a way
22 that it turns on at the next visit.

1 So if the sites do not schedule the clinic
2 visit at the right time, or they don't schedule it
3 at all, or often practically the schedule changes
4 and they may need to reschedule the visit, then
5 those would be cases when this entry of stiffness
6 scores would not be.

7 The other issues are log pad. That's a
8 technical issue. A device did not seem to be
9 working properly or upload the data. Then the one
10 you mentioned, patient noncompliance, is the number
11 shown in that third column of the other.

12 DR. WEINFURT: If you could just tell us
13 what's under all other reasons?

14 DR. SHUSTER: All other reasons comprises
15 everything in the columns to the right of that.

16 DR. WEINFURT: Oh, I'm sorry.

17 DR. SHUSTER: It's just the total, and then
18 we break them out. Of those 18, you can see
19 pexidartinib, but they're 7, 3, and 8.

20 DR. FIERO: This is Mallorie Fiero with
21 statistics. As you mentioned, Dr. Weinfurt, we
22 noticed that the reasons for missing data were

1 different between the two treatment arms, although
2 the percent of missing data was the same.

3 As you saw in the slide, although the slide
4 was for more stiffness, we presented the table of
5 missing reasons for range of motion, which was what
6 we were most focused on in interpreting clinical
7 benefit. Adverse event was one of the main reasons
8 for the pexidartinib patients being missing, which
9 is the reason why we performed sensitivity analyses
10 for informative missing data. As we mentioned, we
11 found a range of results from 7 to 19 percent
12 within patient improvement.

13 DR. RINI: Thank you. Dr. Uldrick?

14 DR. ULDRICK: I wanted to follow up with two
15 quick questions to better understand the totality
16 of COA that was performed. First, I guess my
17 question is to Dr. Fiero.

18 In looking at the plan, could you explain
19 what a hierarchical assessment is and why you would
20 not, as I understand it, look beyond the first test
21 and rather look at the totality of the data, which
22 are measuring different functional and

1 patient-reported outcomes that may be different?

2 DR. FIERO: That's a good question. For the
3 hierarchical analysis, for a statistical analysis
4 plan, we need to control for type 1 error. A
5 hierarchical analysis is when you specify you test
6 the primary endpoint first. And if that's found to
7 be statistically significant, then we test in a
8 specified order.

9 In the original statistical analysis plan,
10 BPI 30, which was the brief pain inventory, was
11 originally first secondary endpoint to be tested.
12 But due to the substantial amount of missing data,
13 the applicant came to FDA, prior to unblinding of
14 the data, and proposed to reorder the hierarchy
15 because of those missing data. They put BPI 30
16 from first to last, and then range of motion was
17 put first.

18 So if the statistical analysis plan remained
19 the same at the original protocol, or statistical
20 analysis plan, range of motion would not have been
21 tested. However, since the applicant did come to
22 us, and they did this reordering prior to

1 unblinding, we acknowledge this as a weakness of
2 the ENLIVEN results.

3 Does that answer your question?

4 DR. ULDRICK: Yes. It does answer my
5 question. It still makes it hard to not look at
6 the other data. I guess maybe a question is really
7 to the sponsor to the rationale between the
8 rank -- for the original ranking and the reordering
9 of the ranking.

10 MR. RICHARDS: BPI and pain, as well as
11 range of motion, were clinically relevant endpoints
12 during the conduct of the study due to conduct
13 change; for example, the DMC changes, along with
14 the patient compliance, which can always be a
15 difficulty when collecting patient-reported
16 outcomes and these types of data.

17 It became apparent there was missing data.
18 Range of motion was moved up because it was
19 apparent that was going to be the least impacted by
20 the missing data, and subjective, and clinically
21 relevant, so we went ahead and moved it up.

22 We did discuss with the agency knowing it

1 was our decision. It was our decision to do this
2 prior to unblinding, and in our discussions, the
3 totality of the secondary endpoints would be part
4 of the evaluation.

5 DR. ULDRICK: The second point related to
6 that is whether or not that reaches the threshold
7 for being clinically meaningful, and part of that
8 depends on it being anchored to some other outcome.
9 I was wondering if you could give an example of an
10 outcome that one might look at for range of motion
11 of the knee, if we're trying to interpret this.

12 DR. FIERO: First of all, I just wanted to
13 clarify that we decided to focus on range of motion
14 not necessarily because of the reordering, but it's
15 because the substantial amount of missing data that
16 we saw for physical function, and worst stiffness,
17 and BPI 30, which was about 43 percent.

18 As you mentioned, we usually use an
19 anchor-based approach, and the sponsor proposed a
20 couple -- or they had a couple of anchor measures,
21 which I believe they can expand on. But one
22 example would be something like a physical

1 function. If you look at the relationship between
2 the range of motion and physical function, the
3 difference between physical function can help you
4 determine what would be clinically meaningful for
5 range
6 of motion. However, due to the substantial amount
7 of missing data and the endpoints, we weren't able
8 to perform that type of analyses.

9 DR. RINI: Dr Villalobos?

10 DR. VILLALOBOS: Yes. This is Vic
11 Villalobos from the University of Colorado, Denver.
12 This question's for Dr. -- I'm sorry. I'm
13 forgetting his name -- the surgeon --

14 MR. RICHARDS: Dr. Bernthal and Dr. Tap.

15 DR. VILLALOBOS: I apologize. In your view
16 of the data, were there a substantial number of
17 patients that actually would have become
18 resectable, based off of the responses that we were
19 seeing?

20 MR. RICHARDS: Dr. Bernthal?

21 DR. BERNTHAL: Nick Bernthal, UCLA. That
22 wasn't the intent of this study, and the data

1 wasn't followed that way. Honestly, I don't know.
2 I can't answer that question. The intent of the
3 study wasn't set up as a neoadjuvant trial. I just
4 can't really speak much more to it.

5 DR. VILLALOBOS: And a question for Dr. Tap,
6 then. In your experience with this drug in this
7 trial, the real-world use of this data, considering
8 the fact that very few of the patients in the
9 placebo arm at 25 weeks had progression of disease,
10 do patients require long-standing use of this drug
11 to garner benefit?

12 MR. RICHARDS: Dr. Tap?

13 DR. TAP: William Tap, Memorial Sloan
14 Kettering Cancer Center. This was actually a
15 disease that, from a medical oncology standpoint
16 and a clinical trial development standpoint, we
17 knew very little about. One of the things that we
18 had to do was really engage with the patient
19 community to understand what they go through with
20 this disease. And we're very thankful for that
21 because they really taught us how disease in
22 different joints can really affect what they go

1 through on a daily day-to-day basis.

2 What we can say is the results were
3 dramatic, and the majority of patients had some
4 improvement. We see that not only in shrinking
5 within the tumor, but meaningful improvements to
6 them. Most patients can discern their PVNS or TGCT
7 pain from other aspects of pain, which you can
8 understand having multiple surgical resections or a
9 disrupted joint, there is that.

10 One of the most important questions that I
11 think we need to answer as an academic community is
12 how to best apply this drug moving forward. I
13 think you raised two important questions. Could
14 there be an adjunct for patients who have a
15 tremendous response to treatment to say can we get
16 them to surgery and properly clear the joint? But
17 the other thing is what is the appropriate
18 longitudinal use of this drug?

19 We were allowed to have dose reductions,
20 dose modifications for patients, and it was very
21 variable what we saw, even in patients who came off
22 study. What had happened is a lot of times, we

1 would see stability of disease and symptoms.
2 Sometimes we would see a slight increase in their
3 patient-reported symptoms, what they would call,
4 say, their TGCT pain or stiffness, but it is still
5 unclear of how to use this drug longitudinally.

6 Now, most important for me is having the
7 drug available so we can begin to answer these
8 important questions with this community, and I
9 think having that relationship between the patient
10 and the clinician is going to be critical.

11 DR. VILLALOBOS: Now applying the
12 risk-benefit ratio is a primary question here. For
13 a situation where a drug has a small risk of
14 causing significant toxicity and morbidity with
15 hepatic failure and this implementation of this
16 REMS program, would it not be more effective,
17 actually, to have a dose-escalation approach rather
18 than doing a high dose to begin with, with a higher
19 risk, particularly within the first 8 weeks of
20 actually developing these liver toxicities; having
21 a lower dose escalation within the patient itself,
22 particularly considering this has benign disease

1 where patients will not die from disease?

2 MR. RICHARDS: I can invite Dr. Tap's
3 opinion, but we don't know. The mixed or
4 cholestatic hepatotoxicity is idiosyncratic. Part
5 of the intent behind the patient registry is to
6 gather more information so that perhaps we can
7 understand this a bit better. But I would invite
8 Dr. Tap's opinion on this.

9 DR. TAP: Thank you. William Tap, Memorial
10 Sloan Kettering. Again, a little piece of history,
11 which I think is important with our lack of
12 understanding of this disease. We felt it was very
13 critical to have a placebo control. There is an
14 inflammatory nature to this disease, so we didn't
15 know if we could see spontaneous regression, say,
16 as we see in desmoid tumors. A recent study in
17 that disease showed about a 20 percent spontaneous
18 response rate.

19 I think that was very important in how we
20 design this study. The pharmacokinetics
21 suggested -- and what we saw in the phase 1
22 studies, that there were rapid decreases within the

1 first few months of therapy with 1000-milligram per
2 day dosing. When we had a 25-week period that we
3 were looking for this initial analyses, potentially
4 having quick remediation of symptoms and
5 improvement was very important from a trial design
6 standpoint and from a patient care standpoint.

7 I think your question, though, as what
8 you're proposing is saying what is the practical
9 approach to this, and do patients need that rapid
10 decline in symptoms and maybe tumor response
11 clinically? I think that's a very important
12 question, and that would be something that, again,
13 as the academic community, we would be very
14 interested in asking; would we still see the same
15 kinetics of response and how best to apply it?

16 But again, to me it comes down to actually
17 having something systemically that we could do for
18 these patients. The risk is critical of the
19 hepatotoxicity, and that's what should weigh on all
20 of our minds as clinicians. I think Dr. Bernthal
21 mentioned that there's that initial
22 multidisciplinary discussion to say, should medical

1 therapy be considered? If medical therapy is
2 considered, really, the impetus then lies on the
3 medical oncologist to understand the weight of the
4 toxicities that you noted and what the disease is
5 actually doing to the patient to have that
6 appropriate risk-benefit discussion.

7 These are patients that come to our clinic,
8 and we can spend some time before we immediately
9 start a therapy. We can see what their symptoms
10 are. We can enact other measurements. Some of the
11 placebo patients actually did better when they went
12 on to trial, and I attribute that to the
13 multidisciplinary care they got when they came into
14 a tertiary care center: pain, palliative care,
15 adjustments of medications, physical therapy.

16 So there is some time to make these
17 decisions, too, but in patients who really need the
18 medication, then I think also that risk-benefit
19 discussion would be really important.

20 DR. RINI: Thank you. Dr. Strader?

21 DR. STRADER: Doris Strader, University of
22 Vermont. I have a question about the

1 hepatotoxicity. I struggle trying to decide
2 risk-benefit in patients with a benign tumor in
3 whom we do see changes in tumor size and volume.
4 And as a result, probably that's what's related to
5 the change in range of motion because the tumor's a
6 little bit smaller, and trying to figure out who
7 these people are.

8 If this were a life-threatening condition,
9 it's easy. You'd say, okay, well you have to do
10 something. This is not a life-threatening
11 condition, so the question is, is the change in the
12 size of the tumor and the change in range of
13 motion, that may or may not be clinically
14 meaningful to the patient, worth the risk of
15 hepatotoxicity?

16 So my first question is I couldn't find
17 anywhere, in any of the data that you mentioned,
18 what the baseline AST and ALT were and what you
19 consider normal ALT and AST. Everything says above
20 the upper limit of normal, but that depends on what
21 you consider normal.

22 Where I live in Burlington, Vermont, normal

1 is considered 40, and normal and healthy are two
2 entirely different things. The AASLD has decided
3 that healthy AST and ALT are 19 for women and
4 probably 22-23 for men. But many places consider
5 40 normal. If you go further south, 70 is normal.

6 So the question is what was the normal value
7 that started many patients that had side effects or
8 older patients? I don't know if they were older
9 patients with diabetes and heart disease and risk
10 for let's say the metabolic syndrome who may have
11 had a normal ALT of 39, which is not necessarily
12 normal in the grand scheme of things. So I want to
13 know if there's any indication as to what the
14 normal value was on the majority of those patients.

15 MR. RICHARDS: Absolutely. I'd like to
16 invite Dr. DeLeve to speak to this point. We have
17 had some discussions in terms of BPI and labeling
18 for those patients with baseline abnormalities. We
19 don't have substantial evidence to say, no, you
20 can't be a candidate for this therapy, but I would
21 ask Dr. DeLeve for her opinion.

22 DR. DeLEVE: Laurie DeLeve, University of

1 Southern California. The blood was sent to a
2 central, and I believe the upper limit of normal
3 was 40.

4 Your second question was basically did we
5 see NAPLD, and, yes, we had multiple NAPLD patients
6 in the patients who were either diabetic or
7 hyperlipidemic with hypertension, who had a
8 different liver test pattern, the more fluctuating
9 AST/ALT, alk phos. Those were adjudicated by the
10 hepatic events adjudication committee as not
11 related.

12 DR. STRADER: So that makes it a slightly
13 more difficult situation because now you have
14 patients who don't have a normal ALT to start, and
15 some of them have another condition that may
16 predispose them to the unclear hepatotoxicity of
17 pexidartinib.

18 DR. DeLEVE: So they were not started on the
19 study if their baseline was up --

20 DR. STRADER: Above 40 --

21 DR. DeLEVE: -- at the time of --

22 DR. STRADER: Above 40?

1 DR. DeLEVE: Correct. I think it was 40.
2 ALT was 40.

3 DR. STRADER: Okay.

4 DR. RINI: Ms. Preusse?

5 MS. PREUSSE: A quick question. Courtney
6 Preusse, consumer rep. Dr. Tap answered my first
7 question. The second was just on slide CE-5, he
8 mentioned that stratification of the ENLIVEN trial
9 was stratified by upper versus lower extremity.
10 And I'm wondering if there was any data to show
11 that there was a benefit in one group versus the
12 other.

13 I guess the underlying assumption would be
14 if you rolled out the use of this drug in a more
15 limited population, whether you would limit the
16 number of severe adverse events.

17 MR. RICHARDS: To answer your first question
18 of whether we saw a difference in the clinical
19 activity of the upper versus lower extremity, on
20 all the subgroups, the effect in terms of ORR and
21 TVS was very, very similar across all the
22 subgroups. The intent of the indication is to do

1 exactly that, is to limit it to only that
2 population that really have no viable options
3 because they're no longer amenable to surgery, and
4 in these patients that were highly likely not to
5 have a successful surgery, we saw similar effects
6 across all of the subgroups.

7 DR. RINI: Dr. Halabi?

8 DR. HALABI: Thank you. Some of my
9 questions were already answered, but can we talk a
10 little bit on -- can we defer to slide CE-5? I
11 wonder if the sponsor and the FDA looked at COA
12 data beyond week 25 to see if the profile changes
13 over time.

14 MR. RICHARDS: In terms of range of motion,
15 I'd like to invite Dr. Shuster to speak to this
16 point.

17 DR. SHUSTER: Dale Shuster of Daiichi
18 Sankyo. The answer is yes. We have collected, and
19 continued to collect, COA data on this study. And
20 after week 25, we have some of this graphed here.
21 This is looking at the mean change from baseline,
22 and in the range of motion, you see week 13 as when

1 we assessed the mid-point of this study. The final
2 assessment for part 1, the primary analysis
3 endpoint is week 25, and we've continued to follow
4 patients.

5 DR. HALABI: Were you able to do this by
6 responder versus non-responders? Do you have the
7 data?

8 DR. SHUSTER: We have the data. I'm not
9 sure -- I think you mean is the range of motion
10 different by whether a patient responded or not
11 responded.

12 DR. HALABI: That's correct.

13 DR. SHUSTER: I'm not sure if we have that
14 data. Well, we don't have the analysis. I should
15 say we have the data and we can look at that.

16 DR. HALABI: I guess, then, the question
17 will be back to Dr. Fiero from the FDA.

18 Similar to slide 20, I'm curious if you have
19 looked at the data beyond week 25, knowing that,
20 obviously, this is exploratory, but I'm just
21 curious

22 DR. FIERO: That's a good question. We did

1 not look very closely at the data during the
2 open-label phase simply because when patients are
3 unblinded to treatment, we know that it could
4 potentially affect the estimates. So we focused on
5 the double-blind portion of the trial.

6 DR. RINI: Dr. Klepin?

7 DR. KLEPIN: Heidi Klepin, Wake Forest. I
8 wanted to circle back to the issue of safety and
9 hepatotoxicity specifically, and I wanted to see if
10 you could provide any data around observations for
11 characteristics at baseline in particular that
12 might have been associated with hepatotoxicity, so
13 things that were already touched on like comorbid
14 conditions, age.

15 I did read in the provided materials, I
16 think there were only 8 patients over 65, and half
17 of them had a treatment-emergent adverse event. So
18 there's something there but too small to maybe make
19 much out of.

20 Then I think the other issue that came up
21 earlier were concomitant medications. So
22 particularly as we think about how this drug would

1 be used chronically in patients over time, it's not
2 just a static baseline characteristic, but you're
3 going to have patients who are on this drug who
4 then start atorvastatin, or who then have a new
5 comorbid condition.

6 So the more we can understand from your data
7 now to try and make some judgments around that,
8 that would also help providers. Then of course,
9 the registry as proposed is going to be really an
10 important part of that as well if that moves
11 forward.

12 MR. RICHARDS: Sure. I'd like to invite
13 Dr. DeLeve to talk about the risk factor analysis
14 that, unfortunately, wasn't able to identify any
15 particular risk factor that was predictive. As
16 you've noted, the patient registry is hopefully
17 going to help us a lot with understanding that
18 going forward that is the function of it.

19 DR. DeLEVE: Laurie DeLeve, University of
20 Southern California. This was analyzed in the TGCT
21 population because it's much more difficult to
22 locate a non-TGCT cancer population. They looked

1 at gender, prior therapy, medical history,
2 including baseline liver and renal function, as
3 well as alcohol use, hepatitis, and no independent
4 risk factors were identified.

5 DR. KLEPIN: In the medical history, were
6 there any specific things like diabetes or other
7 diseases that were looked at, or a comorbidity
8 scale, or something of that?

9 DR. DeLEVE: Nothing came out during the
10 analysis.

11 DR. KLEPIN: Okay. But they were looked at.

12 DR. DeLEVE: They were looked at.

13 DR. KLEPIN: And medications were also
14 collected and looked at?

15 DR. DeLEVE: Medications were -- yes.

16 DR. KLEPIN: It would be nice to see
17 reported somewhere.

18 DR. RINI: We're running a little behind,
19 and I still have a list of people with questions.
20 What we're going to do now is take a 10 minute
21 break -- not a minute more -- and then come back.
22 We'll do the open public hearing, and then we'll

1 have another 10 or 15 minutes of questions to the
2 sponsor before we do the discussion and vote.

3 So it is now 10:27, so we'll start again at
4 10:37. Thank you.

5 (Whereupon, at 10:27 a.m., a recess was
6 taken.)

7 **Open Public Hearing**

8 DR. RINI: Both the Food and Drug
9 Administration and the public believe in a
10 transparent process for information-gathering and
11 decision-making. To ensure such transparency at
12 the open public hearing session of the advisory
13 committee meeting, FDA believes that it is
14 important to understand the context of an
15 individual's presentation.

16 For this reason, FDA encourages you, the
17 open public hearing speaker, at the beginning of
18 your written or oral statement to advise the
19 committee of any financial relationship that you
20 may have with the sponsor, its product, and if
21 known, its direct competitors.

22 For example, this financial information may

1 include the sponsor's payment of your travel,
2 lodging, or other expenses in connection with your
3 attendance at the meeting. Likewise, FDA
4 encourages you at the beginning of your statement
5 to advise if you do not have any such financial
6 relationships. If you choose not to address this
7 matter at the beginning of your statement, it will
8 not preclude you from speaking.

9 The FDA and this committee place great
10 importance on the open public hearing process. The
11 insights and comments provided can help the agency
12 and this committee in their consideration of the
13 issues before them.

14 That said, in many instances and for many
15 topics, there will be a variety of opinions. One
16 of our goals today is for this open public hearing
17 to be conducted in a fair and open way, where every
18 participant is listened to carefully and treated
19 with dignity, courtesy, and respect. Therefore,
20 please speak only when recognized by myself, and
21 thank you for your cooperation.

22 Will speaker number 1 step up to the podium

1 and introduce yourself? Please state your name and
2 any organization you are representing, for the
3 record.

4 DR. TESLER: My name is Dr. Peter Tesler.
5 I'm not representing any organization. The sponsor
6 covered my expenses in connection with my
7 appearance today, and I have no other financial
8 disclosures to report.

9 Shall I proceed?

10 DR. RINI: Sure, please.

11 DR. TESLER: I finished my pediatric
12 residency in 1992, and I've spent most of the past
13 25 years in medical leadership positions with
14 direct responsibilities for quality of care and
15 patient safety. Today, however, I am here as a
16 patient. I started to have vague right knee pain
17 in the summer of 2014 and saw a physician in early
18 fall. I was diagnosed with diffuse TGCT or PVNS in
19 November 2014. After receiving this diagnosis, I
20 started scouring the web for treatments. Aside
21 from surgical resection, there were not many
22 options.

1 By the spring of 2015, I was steered to an
2 orthopedist at Memorial Sloan Kettering who
3 suggested that in my case there was only a 50
4 percent chance that surgery would be curative. I
5 was referred to Dr. William Tap, who informed me
6 that there was finally a promising medical
7 treatment on the horizon, a new drug that was in
8 phase 3 trials.

9 My PVNS was progressing, both by MRI and
10 more importantly by my symptoms: pain, swelling,
11 and decreasing mobility. I eagerly signed up for
12 the trial and unfortunately ended up in the placebo
13 arm for 6 months. My symptoms continued to worsen,
14 and pain and decreasing mobility became a daily
15 fact of life.

16 By the end of the placebo arm, my walk had
17 turned into a limp, stairs were truly problematic,
18 and I could no longer put on my right sock. At
19 that point, I knew I was weeks away from requiring
20 a cane. Needless to say, this deterioration had
21 dramatically impacted both my professional and
22 personal life, and that of my family as well.

1 I finally entered the treatment cycle in
2 April 2016, almost a year and a half after
3 diagnosis. My response to pexidartinib has been
4 life changing. After few months on the drug, I was
5 able to walk without pain or limp, and after a bit
6 longer, I could easily go up and downstairs and
7 even bicycle once again. In the fall, I could
8 actually run, something I had not been able to do
9 for almost two years.

10 Fast forwarding to this past January, I
11 climbed Machu Picchu with my wife and three sons,
12 something I would never have envisioned prior to
13 starting pexidartinib. However, I am by no means
14 tumor free, and my tumor mass, after a significant
15 reduction, has mostly plateaued over the past 6 to
16 9 months. I know I will be on this drug, or a
17 variant, over the long term.

18 So to be clear, I am fully advocating that
19 this committee and the FDA approve pexidartinib.
20 It currently is the best pharmacologic treatment
21 option, and although there are other drugs in the
22 pipeline, your approval can make an immediate and

1 profound impact on all TGCT patients, and no
2 patient will have to needlessly suffer for waiting
3 and hoping to find a trial. I appreciate how lucky
4 I have been, although aghast at still being on
5 protocol for over three years. My 20th MRI is
6 scheduled for June.

7 Putting on both my physician and patient
8 safety hats, pexidartinib is not without side
9 effects. Although my hair did not go shock white
10 as promised, and I had no signs or symptoms of
11 hepatotoxicity, the most significant side effects
12 for me have been GI, fatigue, and occasionally not
13 feeling as sharp as I would like.

14 I've had the option to decrease the dose to
15 address these side effects, but given the trial
16 status, if the tumor mass had started to increase,
17 I would be denied the ability to return to the
18 prior higher dose, which is of course no choice at
19 all. If this drug is approved, then wise
20 clinicians like Dr. Tap can adjust dosing strength
21 and schedule, and presumably work out a therapeutic
22 regimen for PVNS patients.

1 Prior to approval, the options are extremely
2 limited, and no patient with this disease should be
3 faced with those choices. Therefore, I implore
4 this committee to move forward and approve
5 pexidartinib so that every patient in the United
6 States can have this treatment option without
7 further delay and have the chance to regain their
8 lives and live to the fullest with all this drug
9 has to offer. I thank the committee for your time
10 and attention.

11 DR. RINI: Thank you. Speaker number 2 can
12 step up and introduce yourself and any affiliation.

13 DR. SRINIVASAN: Thank you for the
14 opportunity to speak today. My name is Dr. Varuna
15 Srinivasan. I'm a physician with a masters in
16 public health from Johns Hopkins University, and
17 speaking today as a senior fellow at the National
18 Center for Health Research, which analyzes
19 scientific and medical data to provide objective
20 health information to patients, health
21 professionals, and policymakers. We do not accept
22 funding from drug and medical device companies, so

1 I have no conflicts of interest.

2 We have several strong concerns about the
3 drug pexidartinib. About 1.8 per 1 million persons
4 will develop GCTTS, and 9.2 per 1 million persons
5 will develop PVNS. These rare forms of cancer are
6 benign with a very low chance of becoming
7 malignant. However, patients often experience a
8 debilitating quality of life with reduced range of
9 motion. Currently, radiation therapy is shown to
10 be beneficial in preventing cum recurrence in
11 infiltrative cases when surgery is not possible.

12 When looking at the drug in question today,
13 it is more important to focus on functional
14 outcomes and what it means for patients rather than
15 overall response rate or decrease in tumor volume.
16 It appears that while this drug offers modest
17 functional improvement on average, there are major
18 risks. Almost half the patients dropped out mostly
19 due to liver injury and liver failure. We agreed
20 with the FDA that there is a lack of understanding
21 on the long-term effects of this drug on those
22 injuries.

1 The big picture is important. These cancers
2 typically affect people between ages 20 to 40.

3 Should such young patients be exposed to the risk
4 of liver failure in order to possibly have a small
5 short-term decrease in tumor size or a little more
6 than 10-degree allowance in range of motion?

7 In the pivotal clinical trial conducted on
8 this drug, 38 percent of patients showed an overall
9 response rate after 6 months, but close to 90
10 percent had elevated liver enzymes within the first
11 2 months of treatment, for the scrutiny reveals
12 that the recurrence rates were not studied, and
13 while patients' liver enzymes were monitored
14 regularly, the sponsors failed to adequately
15 characterize which patients would be more at risk
16 for liver failure or injury. The sponsor also did
17 not determine for which patients the side effect of
18 livery injury would be irreversible.

19 As we all know, there is more monitoring in
20 a clinical trial than in the real world of
21 medicine, where the expertise of physicians and the
22 understanding of patients varies widely, and yet

1 the sponsor is proposing the same monitoring
2 strategy as part of their REMS. If monitoring is
3 less stringent than in the clinical trials, the
4 incidence of liver failure cases could be far worse
5 in a real-world setting.

6 Furthermore, this tumor has a highly
7 heterogeneous histology, and some of the patients
8 in the pivotal trials had previous systemic
9 therapy. As a result, the target population for
10 whom this drug would be the most beneficial has not
11 been established.

12 This tumor is non-lethal, and the
13 persistence of this tumor does not lead to
14 malignancy, so we ask again that you consider
15 whether the high rates of liver injury are really
16 worth the risk. The bottom line is that it is not
17 known how many patients would develop irreversible
18 liver injury from this medication. Merely
19 establishing a basic patient indication profile
20 with moderate REMS strategy does not guarantee a
21 positive risk-benefit profile.

22 I hope that you will agree that better

1 clinical studies that accurately evaluate the
2 functional efficacy outcomes, as well as the safety
3 profile of this drug, are needed before approval
4 should even be considered. We urge the committee
5 today to consider these important points while
6 discussing and voting today. Thank you.

7 DR. RINI: Thank you. Speaker number 3?

8 MS. ROWE: Hi. My name is Angie Rowe. I'm
9 the executive director at Global Genes. We do
10 receive corporate donations and grants, including
11 from Daiichi Sankyo, who paid for my travel and
12 expenses to be here today. I have no financial
13 interest in the outcome of the meeting today.

14 Global Genes is a leading rare disease
15 patient advocacy, nonprofit organization whose
16 mission is to connect, empower, and inspire the
17 rare disease community. We envision a globally
18 connected community equipped to eliminate the
19 challenges of rare disease. With an international
20 scope, Global Genes develops educational resources,
21 programs, and events that unites patients,
22 advocates, and industry experts.

1 At Global Genes, we are very passionate
2 about the work that we do for the patient
3 community. There are more than 7,000 rare diseases
4 affecting 30 million people in the United States,
5 and 350 million people globally. Only 5 percent of
6 rare diseases have an FDA-approved therapy. There
7 are no cures.

8 At Global Genes, we want to encourage and
9 support the development and approval of treatments
10 for rare disorders. Options and choice; these are
11 things that are as rare as the disorders many
12 people face. Through our work at Global Genes, we
13 see story after story of rare disease patients that
14 receive a therapy to go on to do remarkable things
15 with their lives, and more importantly have a much
16 improved quality of life.

17 Patients can tell their personal journeys,
18 however, representing thousands of patient
19 communities and working with them on a day-to-day
20 basis, not in clinical or medical settings but on
21 an emotional and support level, the burden of a
22 debilitating, rare disease with a small patient

1 population and limited support networks leads to a
2 lot of quality-of-life issues. There are things
3 like chronic pain, loss of work, and long-term
4 medical expenses to name a few. The emotional and
5 physical toll is not just on the patient but on the
6 caregiver.

7 This long-term quality-of-life burden cannot
8 be underestimated and is why I'm here to give all
9 those affected by rare disease and disorders a
10 voice in the process. We want to continue to
11 support in any way that we can options and choice
12 to improve the quality and length of life for
13 everyone battling these rare conditions, as well as
14 their families and caregivers.

15 Thank you to the FDA, committee members, and
16 most importantly, the patients and caregivers for
17 your time here today.

18 DR. RINI: Thank you. Speaker number 4?

19 MS. MERCADO: Hi. My name is Rhoda, and I'm
20 from Chino, California. I'm a patient here. I'm
21 not being paid to come here, but sponsor covered my
22 expenses for my accommodations, and I don't have

1 any financial relationship with the company.

2 Before I started this medication, my life
3 was in constant torture because of the severe pain
4 and limited mobility. I have a diffuse PVNS on my
5 right knee, and it evolved slowly. Years prior to
6 my diagnosis of PVNS, I was diagnosed with
7 osteoarthritis of the right knee. That's when I
8 was beginning to have pain and swelling. I
9 thought, I just have arthritis, but the swelling
10 and pain didn't go away. Instead, it became more
11 painful and very swollen.

12 I went to see another doctor who said that I
13 have PVNS, and I was referred to an orthopedic
14 oncologist. My knee was hard like a board,
15 swollen, with very severe pain, waking in the
16 middle of the night crying because of the pain.

17 I'm a registered nurse by profession, and I
18 work as a staff nurse in an acute hospital and
19 constantly on my feet 12 hours a day. I continued
20 working even though I was in pain and can barely
21 walk because the doctor I was seeing at the times
22 said he will amputate my leg.

1 I was praying that there's a study out there
2 to help me cure this disease, so I will be relieved
3 of my pain and suffering, and save my leg. Faith
4 brings me to this study. I was lucky that my
5 doctor referred me to another doctor, and then I
6 got to the trial study.

7 I want to fight. I don't want to give up.
8 If I lose my leg, at least I tried my best, and if
9 it was meant to be, at least I tried my best. I
10 was very blessed to get on this trial medicine that
11 has helped me a lot to get better and that someday
12 can help other people like me suffering from PVNS.

13 Before starting with my trial medicine, I
14 was already on three narcotic medications that was
15 not helping with the pain, and I was walking like a
16 kangaroo. I can't stand or bend my knee, limping
17 while walking, and I was still working.

18 After I started with my medication, my
19 severe pain from 10 went down to 7 within a week,
20 and my knee started to soften. I went on medical
21 leave after the first week that I started my trial,
22 continued my medicine, and continued to see my

1 trial doctor, which is Dr. Singh [ph].

2 After 3 months, I was back to work without
3 restriction as a registered nurse. I can bend my
4 knee. My pain is very minimal, just at the end of
5 my 12-hour shift. The swelling is down and my
6 mobility is back. I just refrain from running
7 because part of my bone became thin because of the
8 tumor that invaded my bones.

9 I was able to keep my leg in one piece, no
10 amputation done. I am back to being a normal
11 person. The side effects were minimal, like my
12 hair started to grow gray, and my skin color is
13 lighter. When my coworkers saw me back to work,
14 they call me, "Rhoda, you're a miracle." They are
15 the people that kept seeing how much I suffered
16 from PVNS.

17 This medication can help a lot of people
18 suffering out there from losing a part of their
19 limb and will have mobility and better quality of
20 life. I've been on this trial for more than
21 6 years, and I hope this medication will be
22 approved. I don't want to be back to the suffering

1 again, pain and lack of mobility, and no quality of
2 life. I thank the committee for giving me the
3 opportunity to talk here.

4 **Clarifying Questions (continued)**

5 DR. RINI: Thank you.

6 The open public hearing portion of this
7 meeting is now concluding, and we'll no longer take
8 comments from the audience. As promised, we're
9 going to do just 10 or 15 minutes to finish up with
10 questions to the presenters before we turn to the
11 panel discussion and voting questions.

12 Dr. Uldrick is up first.

13 DR. ULDRICK: Thank you. I wanted to go
14 back to slide CE-16 from the sponsor. Now that
15 we'd have the opportunity to look at the reasons
16 for missingness, if you could go through the
17 methodology for the sensitivity analysis for the
18 PRO endpoints, that would be very helpful.

19 MR. RICHARDS: I'd like to invite
20 Dr. D'Agostino to walk us through some of the
21 sensitivity analyses in order to account for the
22 missingness.

1 DR. D'AGOSTINO: Good morning. Ralph
2 D'Agostino. I'm a professor of biostatistics and
3 data science at Wake Forest University, School of
4 Medicine, and I'm the director of our biostatistics
5 shared resource at our comprehensive cancer center.
6 I'm here today as a paid consultant with the
7 sponsor, but I have no financial interest in the
8 outcome of this meeting.

9 Let me begin by saying that I have
10 thoroughly reviewed the efficacy and
11 primary/secondary efficacy data from this trial and
12 also the sensitivity analyses that have been
13 described in your briefing book. Before I go to
14 the answer to your question, I just want to say,
15 based on my review of the sensitivity analyses, I
16 do believe that the efficacy results that we've
17 been shown, that were both in your book and also in
18 the slides today, are both robust and credible, and
19 that the results are both clinically meaningful and
20 statistically significant, even in the presence of
21 the missing data that we've observed. So let me
22 just go through some of this now with you all.

1 I'm going to first talk a little bit about
2 the tipping-point analysis for range of motion, but
3 we can also talk about the other PROs because I
4 think you might have been interested in both.
5 There were three separate sensitivity analyses
6 performed to identify what could be the potential
7 impact of and missing data.

8 The tipping-point analysis is the most
9 conservative, and the FDA statistician, Dr. Fiero,
10 also showed this. This is an analysis where the
11 data with missing values in the treatment group
12 would have a penalty assigned to them. You would
13 impute a value and then you would subtract or
14 penalize the data a certain amount.

15 If you walk through this table, what you
16 will see is, in order for the statistically
17 significant results there were observed in this
18 dataset to become non-significant, a penalty by the
19 sponsor's calculation of minus 16 percent, which
20 would be a 24-degree worsening in range of motion,
21 would have had to be assigned to each of those
22 patients who had missing data due to adverse

1 events.

2 What that is saying is not only did patients
3 not get better, but they'd have to get clinically
4 meaningfully worse if they had dropped out of the
5 study.

6 Now, the FDA statistician, she presented at
7 12 percent, which was, I believe, a blended
8 calculation between patients who dropped out due to
9 adverse events and patients who dropped out for
10 other reasons. But even at 12 percent, if we all
11 recall the figure she showed, the curve would have
12 had to go below -- what essentially this is saying
13 is in order for the results to become statistically
14 non-significant, you would have had to show
15 worsening, clinically meaningful worsening, 12
16 percent, what would be essentially an 18-degree
17 worsening of what would have been anticipated to
18 have occurred. I think that's the first point.

19 The second point is that the effect size or
20 the difference that was described by the FDA in
21 their document and elsewhere, of saying that the
22 clinical improvement ranges between 7 percent and

1 19 percent for range of motion -- now I'm focusing
2 on -- just again to put that into perspective,
3 recall that the 7 percent reflects a 10-degree
4 improvement in range of motion.

5 Now that lower bound was established because
6 of the tipping point analysis. The actual observed
7 data or the average effect size is somewhere
8 between 20 degrees, up to as high as 29 degrees.

9 DR. ULDRICK: So you only looked at that
10 specific analyses and not the other analyses in
11 your sensitivity analysis; is that correct?

12 DR. D'AGOSTINO: So we can --

13 DR. ULDRICK: I know we're on time
14 limitation --

15 DR. D'AGOSTINO: Sure. If you want to put
16 up the other two sensitivity analyses. You're
17 referring to the other sensitivity analyses.

18 DR. ULDRICK: Are these all range of motion
19 or about something else?

20 DR. D'AGOSTINO: Do you want to see PROMIS,
21 right, as well?

22 Can you put up the PROMIS slide? The PROMIS

1 data also was very promising. It was also highly
2 statistically significant. If we look at the
3 PROMIS data here for the range of motion -- I'm
4 sorry, the PROMIS data for the tipping-point
5 analysis, what you can recall for the PROMIS data
6 is that data was observed or measured at 3 time
7 points, baseline and then at 9 weeks, 17 weeks, and
8 25 weeks.

9 One thing that also may not have been clear
10 is when there's talk about missing data, lots of
11 individuals had data at intermediate time points
12 and just not the final point time point. The
13 analysis of the tipping point, which you see in
14 this figure here, the boldface minus 3.5, minus
15 3.6, that is saying that the penalty on the PROMIS
16 scale, that would have had to be assigned to people
17 with missing data, was between 3.5 and 3.6 points
18 at each time they were not observed.

19 So if someone had missing data at 3 time
20 points, this penalty would have been assigned
21 sequentially 3 times, essentially a cumulative
22 10-point effect.

1 Again, why I as a statistician believe that
2 this is data which suggests that the results are
3 robust and strong is because in order for this to
4 have occurred, the patient would have sequentially
5 been getting worse and worse and worse at each time
6 point, at basically a 4-point scale, which
7 Dr. Bernthal had already told us that, essentially,
8 a shoulder surgery worth of pain would have to get
9 worse each time, subsequently.

10 This is PROMIS data, and again, the data was
11 very strong and compelling.

12 DR. ULDRICK: Good. Thank you. That
13 answers my question.

14 DR. RINI: Thank you. Dr. Lewis?

15 DR. LEWIS: It was answered.

16 DR. RINI: All set? Dr. Strader?

17 DR. STRADER: I have a question about the
18 response to the hepatotoxicity. I saw somewhere in
19 the data that the drug would be discontinued, blood
20 work would be performed weekly, and then the drug
21 would be restarted at a lower dose.

22 So the question I have is, is there any data

1 to suggest that this lower dose, dropping the drug
2 by 200 milligrams I think it was, shows any benefit
3 whatsoever? Because we want to be sure if we're
4 trying to do something to mitigate the risk, that
5 we're also not doing something that's going to be
6 clinically meaningless because you've not studied
7 the treatment at 600-milligrams a day. You've only
8 studied at 800 and 1000 milligrams a day.

9 So is there any data that suggests that
10 dropping that dose has any clinical benefit
11 whatsoever?

12 MR. RICHARDS: We do have on two fronts the
13 case that Dr. DeLeve demonstrated, that at least
14 anecdotally, we know that dropping the dose can
15 lead to the decreases in ALT/AST in terms of
16 efficacy, which is really primarily your question.

17 We have very few patients -- I believe we
18 had 11 on the randomized portion, and what we show
19 within them is that these patients, none of them
20 regressed [indiscernible]; we know that. They do
21 continue to have decreases in tumor size that's an
22 extreme convenience sample. So it's a bit

1 difficult to do a subgroup analysis. But they did
2 continue to show tumor regression in the patients
3 that continued on, for example, 600 and 400
4 milligrams.

5 DR. STRADER: Okay.

6 DR. RINI: All set? Dr. Hunsberger?

7 DR. HUNSBERGER: Yes. I want to go back to
8 the missing data one more time and the reasons for
9 the missing data. There's a difference between
10 compliance and not getting the outcome data. Some
11 of the reasons were severe adverse events, so I'm
12 not clear why you still couldn't get the
13 primary -- or the endpoints, even though they maybe
14 came off treatment.

15 So was it protocol specified that if they
16 had a severe adverse event, you wouldn't get that
17 endpoint or -- I just wasn't clear on the reason.

18 MR. RICHARDS: I'd like to invite
19 Dr. Shuster to speak to that, the context and how
20 that data was collected in those particular
21 patients.

22 DR. SHUSTER: Maybe just to start with this

1 slide for the -- Dale Shuster of Daiichi Sankyo.
2 To start with the slide on disposition, as you
3 said, many patients came off on the pexidartinib
4 arm due to adverse events. Several of these
5 occurred very early in the study treatment. As you
6 surmised, the protocol then did not continue to
7 follow those patients. Many of them would pursue
8 other options at that point anyway.

9 DR. HUNSBERGER: So that was according to
10 protocol or they just decided I don't want to be
11 part of this study anymore?

12 DR. SHUSTER: It was part of the protocol.
13 We didn't ask do you want to continue with the
14 assessments.

15 DR. HUNSBERGER: Okay.

16 DR. SHUSTER: On the placebo arm, you'll
17 note, though, that's withdrawal consent, so that's
18 getting out of the protocol.

19 DR. RINI: We have time for two more
20 questions. Dr. Villalobos will go first. We'll
21 keep it short and to the point.

22 DR. VILLALOBOS: Yes. Dr. Villalobos from

1 University of Denver. This question's for
2 Dr. Bernthal, and I just want to quickly address if
3 you can comment on the risk of a large joint total
4 replacement on morbidity and mortality of those
5 procedures.

6 MR. RICHARDS: Dr. Bernthal?

7 DR. BERNTHAL: Nick Bernthal, UCLA. The
8 short answer is that total joint arthroplasty is
9 one of the most successful interventions we have,
10 by and large, in healthcare. A total joint
11 replacement is a very good surgery.

12 The challenge in this disease is that the
13 total joint replacement is actually not the real
14 driver -- you're not solving the patient's problem.
15 You're addressing the underlying arthritic where of
16 chronic inflammation in the joint. The tumor is in
17 the surrounding tissue, so it's creating
18 inflammation that is eroding bone, and you're
19 replacing the bone in the total joint replacement.
20 But to get the tumor out, you're doing a massive
21 resection of the entire capsule of the knee and of
22 the surrounding tissue.

1 So to answer your question, in split terms,
2 the risk profile of a routine total joint
3 replacement is very favorable; 98 plus percent of
4 these patients do very well. Total joint
5 replacement in the setting of tenosynovial giant
6 cell tumor, when the tumor is much more than
7 replacing the bony context itself, it's quite
8 dramatic. So taking all of that out, we have
9 dramatically increased rates of stiffness,
10 infection, and bleeding. You have very little soft
11 tissue to close over the implant, which often leads
12 to skin over metal, which is a disaster from our
13 end long-term outcomes.

14 So while it's a rare disease and I can't
15 hang my hat on numbers, I can tell you anecdotally
16 that these dramatic cases, total joint
17 arthroplasty, has a much higher rate of
18 complications and problems, and I'd encourage the
19 committee to weigh that against the risk-benefit
20 profile of the drug and not simply the drug in
21 isolation.

22 DR. RINI: Thank you. The last question

1 from Dr. Calis.

2 DR. CALIS: Karim Calis from NIH. My main
3 question was about the missingness, but I think
4 that has been addressed as well as it's going to
5 be. The other question I had was with regards to
6 slide CE-13, where in the assessment of the primary
7 endpoint, there was 20 percent in each group; that
8 the primary endpoint was not evaluable.

9 I understand we're dealing with diffuse
10 disease and maybe complexity there, but can you
11 explain what not evaluable was it, because it
12 couldn't be quantified?

13 MR. RICHARDS: I'd like to invite
14 Dr. Shuster. As he approaches, these non-evaluable
15 were considered non-responders of the analysis.
16 But in terms of why they were non-evaluable, I'll
17 let doctor Dr. Shuster speak.

18 DR. SHUSTER: Dale Shuster, Daiichi Sankyo.
19 These are non-evaluable. This, as a reminder, is a
20 time point assessment at week 25. Most of these
21 patients are patients who had discontinued. You
22 remember that there were 20 patients that had

1 discontinued. There were two other patients that
2 were not evaluable. The scans were not assessed.
3 But the majority is they just didn't continue in
4 this study long enough to have an assessment.

5 **Questions to the Committee and Discussion**

6 DR. RINI: Thank you.

7 I will now proceed with the discussion
8 questions to the committee and panel discussions.
9 I'd like to remind public observers that while this
10 meeting is open for public observation, public
11 attendees may not participate except at the
12 specific request of the panel, and if you could
13 pull up our discussion question, which is our only
14 question.

15 Discuss whether the benefits of
16 pexidartinib, as characterized by a clinically
17 meaningful reduction in tumor burden and an
18 improvement in range of motion, outweigh its risk
19 of hepatotoxicity. I think probably the main items
20 to discuss are the -- I know the FDA is interested
21 in the indication wording around this condition
22 associated with severe morbidity or functional

1 limitations and not amenable to improvement with
2 surgery; some of the missing data that's already
3 been discussed and then hepatotoxicity. And I
4 think Dr. Nowakowski wanted to lead us off.

5 DR. NOWAKOWSKI: Thank you. Greg
6 Nowakowski, Mayo Clinic. I think it is clearly a
7 very active compound. The single-agent response
8 rate is impressive. In addition to response rate,
9 if you look at the waterfall diagram, it appears
10 that the majority of the patients do have some
11 shrinkage of their tumor, so there is no question
12 of activity here in my mind. It's an active agent.

13 Although the functional data and the COAs
14 had limitations, which Dr. Fiero very nicely
15 presented from the FDA, I could not help thinking
16 that shrinking of the tumor itself must translate
17 into some clinical benefit. Although we can have
18 the discussion about the degree of this clinical
19 benefit, typically in this setting, the shrinkage
20 of the mass would be associated with clinical
21 benefit in terms of the function.

22 I think where my problem is and where I

1 would like to focus is basically toxicity, liver
2 toxicity, because the TGCT is not fatal typically,
3 but liver failure can be fatal. We have seen the
4 liver toxicity is a significant problem associated
5 with this compound.

6 The REMS program, which is proposed by the
7 sponsor, is going to be somewhat difficult to
8 institute because a lot of patients have liver
9 function test elevation with this drug, and really
10 the threshold for stopping it or adjusting depends
11 on the levels of the enzyme. The education will
12 have to be done of the prescribers, and I'm glad to
13 see that only the certified providers will be able
14 to prescribe it.

15 My question actually goes to FDA a little
16 bit, and it's more of a policy question. How do
17 you define success of the REMS program, in general,
18 in the future? Let's imagine ourselves that this
19 drug gets approved, and the sponsor has the
20 database, clinical database, and comes back after a
21 period of time, and there are 150 patients in this
22 database. There was one patient with prolonged

1 liver toxicity which recovered and one with liver
2 toxicity which led to death, maybe related to liver
3 toxicity or maybe to some other complications.

4 So you have 2 out of 150. What do you do?
5 How do you make the decision that REMS program,
6 which you have in place and was proposed by the
7 sponsor and agreed on, is successful moving
8 forward?

9 DR. FASHOYIN-AJE: We'll defer response to
10 that DRISK team.

11 DR. LaCIVITA: Hi. This is Cynthia LaCivita
12 with the FDA. The success of the REMS program is
13 still a discussion that we're having within the
14 agency. There are certain things that we can look
15 at. We can look at process metrics to determine
16 whether all the prescribers are enrolled in the
17 program. We can also look at outcomes, too. I
18 think part of the registry would be to collect some
19 of that information to determine how successful we
20 are with monitoring and things of that nature.

21 DR. NOWAKOWSKI: To follow up on this, is
22 there a specific threshold in bad outcomes? Would

1 you consider using those, or does someone move the
2 target depending on the denominator?

3 DR. WARD: The exact threshold is still
4 under discussion. But yes, the idea would be that
5 we would use the registry in combination with
6 probably a postmarketing requirement to look at the
7 safety data over a period of time that will be
8 defined. If we are seeing liver toxicity rates
9 that are higher, substantially higher, or -- the
10 exact numbers are under discussion, but the idea
11 would be that if we're seeing toxicity that is at a
12 higher frequency or more severity than we observed
13 on the clinical trial, we would take additional
14 action.

15 DR. NOWAKOWSKI: Just for my own education,
16 from your experience of other programs, how real
17 time is it? Is there a significant delay before
18 you get the data? Do you get it in batches or does
19 it come on the real time, it's program dependent?

20 DR. FASHOYIN-AJE: I think it's variable.
21 The timeline for getting the data is also still
22 under discussion, and we have several proposals

1 that we're internally discussing.

2 DR. NOWAKOWSKI: Thank you.

3 DR. RINI: I just have one quick follow-up
4 to that in terms of you said the thresholds are
5 under discussions. Is that all defined a priori to
6 anybody enrolling, or is it an ongoing assessment
7 as you move through this registry?

8 DR. FASHOYIN-AJE: Well, it can be both. We
9 anticipate having some a priori guidelines. But I
10 think it's also important to keep in mind that
11 there are a couple of different potential things
12 that we can be looking at with the REMS. The first
13 would be whether or not patients are following the
14 label instructions, and if that is not the case,
15 then there may be labeling implications.

16 The second would be that if patients are
17 following the label, and there are label
18 instructions and there are no additional risk
19 mitigation procedures that we can identify but the
20 risks -- I think we tried to make it clear that the
21 long-term risk is still uncertain. So if we get
22 more information about that long-term risk that is

1 not necessarily mitigatable, then we could always
2 take a different regulatory action.

3 DR. RINI: Ms. Broyles, did you have a
4 comment?

5 MS. BROYLES: I was just curious. I've
6 listened, and certainly the public hearing portion
7 was really wonderful to have a patient describe
8 what they'd been through and the toxicities or not.
9 There is apparently a dramatic response in some of
10 the video, but I guess the -- I'm not really seeing
11 how quick the symptoms come back after they stop
12 the drug if they have to get off the study for any
13 reason whatsoever.

14 But as far as the risk, I mean, most of
15 these patients, the burden of living with this
16 every day, I think we can't overemphasize the poor
17 quality of life that they go -- I mean, everything
18 is impacted by this disease. And it doesn't matter
19 where it is, the impact is severe when it gets to
20 that point. and I know a lot of them have been
21 told about the hepatic toxicities, but many of them
22 are at the point where they're willing to take that

1 on. That's all.

2 DR. RINI: Thank you. More discussion
3 around hepatotoxicity; Dr. Strader, anybody want to
4 comment?

5 DR. STRADER: Sure. Dr. Strader, University
6 of Vermont. We do these consults for liver
7 toxicity all the time. The first thing I want to
8 say is elevations in AST and ALT are not liver
9 failure. It is increased in aminotransferases.
10 It's inflammation in the liver. It's not liver
11 failure.

12 That said, though, the majority of people,
13 or patients in this study, 90 percent or so had
14 increases in their AST and ALT. So they had some
15 evidence of inflammation in the liver, but it
16 doesn't appear to be liver failure in that 90
17 percent of individuals.

18 Then the question as a hepatologist I would
19 ask at this point is how high are the liver
20 enzymes? The FDA appears to be willing to tolerate
21 less than 3 times the upper limit of normal, and
22 according to Dr. DeLeve, 40 was considered normal.

1 So if your ALT 119, go ahead, you can start the
2 drug again.

3 It's probably okay if it's just AST and ALT
4 elevation. These people would have to be watched.
5 That's why not only the risk mitigation, but in my
6 opinion, the follow-up of these patients is
7 necessary. So if you've got a patient with an AST
8 or ALT or 119 and continuing on this drug, you need
9 to follow them on a regular basis to make sure
10 things don't get worse.

11 The other issue is, is the benefit of the
12 drug worth the risk of the toxicity? We do this
13 all the time. I see patients coming in all the
14 time who are on their statins, and their AST and
15 ALT went up, and everybody's waving their hands and
16 very excited. But the issue as far as most
17 hepatologists are concerned is it is better -- it
18 is easier for the patient to tolerate elevations in
19 AST and ALT than to have a heart attack or a
20 stroke. So you say continue it and just monitor.

21 So the question is, where are we with this
22 drug? Does this drug benefit these patients or

1 not? And it appears that the tumor gets smaller
2 and that there's some change in range of motion.
3 What I'm struggling with is the clinically
4 meaningful benefit.

5 We've had a couple of patients here who say
6 that they have had some clinically meaningful
7 improvement, but the question in my mind then
8 becomes what happens over time? We're talking
9 about giving this to these patients for the rest of
10 their lives. So that means is it 6 months of
11 benefit, at which point nothing gets any better
12 after that, or is it a continual maintenance of
13 whatever benefit they have now for 20 or 30 years,
14 or do things get worse?

15 In the meantime, as was mentioned earlier by
16 Dr. Klepin, these patients age. They become
17 diabetic. They get high blood pressure. They get
18 heart disease. They start on other medications.
19 That in combination with pexidartinib may increase
20 their risk for liver related injury.

21 So it's a very sort of complicated question
22 to answer, the AST and ALT elevation in and of

1 itself. The bilirubin thing is different. I think
2 they're doing the right thing. If your bilirubin
3 goes up, stop the drug. Sorry. But the AST/ALT is
4 a different situation, and the question still is,
5 is the benefit of a decrease in tumor size and a
6 little bit of increase in range of motion, with or
7 without really clinically meaningful improvement
8 and change in pain, worth the AST/ALT increase?

9 It may be, but in my opinion, that means a
10 lot of follow-up is going to be necessary, and a
11 lot of monitoring is going to be necessary in these
12 patients because we're tolerating a moderate
13 ALT/AST increase over a very long period of time.
14 So I think that these patients should probably have
15 liver tests done beforehand, and probably not just
16 liver tests. We're talking about people in their
17 20s and 30s, so they may be at risk for other
18 things.

19 So maybe if we're talking about AST and ALT
20 of greater than 40, maybe they should all have
21 their autoimmune serologies tested and make sure
22 they're all vaccinated against hepatitis A, B and C

1 before starting, so we know there's no underlying
2 possible autoimmune condition, and they've all been
3 vaccinated, and then begin and monitor them on a
4 regular basis so that we can be sure that we're
5 not -- if we decide that this drug should be
6 approved because it is important that people not be
7 miserable for the remainder of their lives, but
8 that we're not cavalier about exposing them to a
9 drug over time that may cause some benefits in the
10 future.

11 DR. RINI: Thank you. Other comments about
12 hepatotoxicity? That was great.

13 DR. VILLALOBOS: On that note as
14 well -- this is Victor Villalobos, UC Denver, I
15 think we can't necessarily take the toxicity of
16 this drug in a vacuum. There is significant
17 morbidity and mortality from these procedures that
18 we have to expose these patients to, not including
19 increase of being sedentary over the course of her
20 life because they can't be active. So weight gain,
21 risk of DVTs from not being able to move correctly;
22 postoperative complications, risk of infection.

1 These are larger surgeries, typically, and
2 you're talking about sometimes multiple, multiple
3 surgeries. So I think we can't take this all
4 without consideration of the actual disease process
5 itself. This is not a disease that's going to get
6 better on its own, and oftentimes people will be
7 living this for 50-60 years.

8 Now, the implications of how to use this
9 drug I think are still not clear, and I'm not sure
10 that they will ever be clear based off of a study.
11 And it may very well be that you treat a patient
12 for 6 weeks or 6 months, they recover, respond, and
13 based on the data we have on this trial, 25 weeks,
14 0 patients progressed on a placebo arm.

15 So it may be that only short periods of
16 treatment over time may be as efficacious. Now, We
17 don't have that information, but we will not be
18 able to get that information unless we do a larger
19 study with a very extremely rare subtype of tumors
20 that would be eligible for this particular drug
21 itself.

22 DR. RINI: Thank you. Maybe just finally,

1 we can lean on our statisticians or
2 patient-reported outcomes because there's been a
3 lot of discussion about how meaningful is the range
4 of motion data, et cetera. So I don't know if
5 anybody has comments about missing data in the
6 sensitivity or tipping analyses or just the PROs in
7 general.

8 DR. HUNSBERGER: I think it really is
9 important to take the sensitivity analyses into
10 account because given the discussion of the missing
11 data, it did appear to be patients who were either
12 not having an effect and having adverse events so
13 they couldn't take the drug, and that is a biased
14 estimate if you ignore the people who had missing
15 data. So we can't take that as the best estimate
16 of the effect.

17 I think the sensitivity analysis do show
18 that even if we put in reasonable or extreme
19 estimates of worsening effects, you still get a
20 benefit. So I think there is a benefit on the
21 endpoints. I can't really say whether they're
22 clinically meaningful, but one of the presenters

1 did talk about going from not being able to stand
2 up to being able to stand up.

3 If we could have had an endpoint that looked
4 at the proportion of people who couldn't stand up
5 and then could stand up, that would be really
6 helpful as far as a clinically meaningful outcome,
7 but I don't think you did that analysis. But that
8 would be the kind of thing that would be really
9 helpful, if you could look at the data and say,
10 rather than range of motion, how many people could
11 not stand up and then stand up? And I think
12 that --

13 DR. CRISTOFANILLI: But they I think we have
14 two different issues. One is the magnitude of
15 benefit that we cannot quantify because of the
16 deficiency of the study. The data is not there.

17 DR. HUNSBERGER: Right.

18 DR. CRISTOFANILLI: Of course, is you have a
19 response, they would say, supposedly you have an
20 improvement in your symptoms. But the data is
21 missing, so we cannot, based on the data of this
22 study -- even with the sensitivity analysis, we

1 extrapolate. We want to believe that this is the
2 case.

3 DR. NOWAKOWSKI: To be honest, I prefer it
4 this way. Having seen the responses, objective
5 responses, they may be missing some of the data in
6 the functional assessment than the other way
7 around; not having responses and having some
8 improvement in functional status, because then you
9 really question a lot of those tools. Those tools
10 are not really well developed.

11 DR. RINI: Other comments?

12 MS. PREUSSE: Courtney Preusse, consumer
13 rep. There's been a lot of talk around the missing
14 data, and that being the result of worsening
15 patient outcomes. But isn't it possible that the
16 missing data is a result of these patients resuming
17 their normal lives and not being focused on the
18 study anymore, and actually going out and having an
19 improved quality of life?

20 DR. RINI: I think there is concern either
21 way that it's missing because they're so much
22 better or it's missing because they're so much

1 worse. But I think, as was stated, it's just
2 missing. At the end of the day, it's just missing.

3 Do you have a comment?

4 DR. HUNSBERGER: Except if you looked at the
5 table for missingness, it was always because of
6 severe adverse events, which meant -- the sponsors
7 said that meant they went off treatment; they tried
8 other treatments. So it was getting worse. If you
9 looked at the reasons, it was for worsening.

10 DR. RINI: Other comments over here?

11 DR. LEWIS: Just looking at these kind of
12 PROs, when patients are doing well, that's when
13 they reply. When patients aren't doing well,
14 that's when they tend not to reply, just
15 historically.

16 I do have one question about the registry.
17 Who's going to be monitoring the registry? Because
18 it seems like it's great that all these patients
19 will be put in a registry, but who will be
20 following it and managing it over time? Is it the
21 FDA or is it the company?

22 DR. WARD: Typically, the company is the one

1 that's running the registry and they provide
2 specific pieces of data to the FDA as prearranged.

3 DR. LEWIS: Will you monitor it and then
4 refer to the physicians should untoward events be
5 happening as well?

6 DR. WARD: Yes. The purpose of the registry
7 is primarily to collect data and to make sure that
8 we're collecting data from all patients that are
9 receiving pexidartinib. So one of the limitations
10 of the adverse event reporting system that is used
11 across the country is that we don't have a
12 denominator for patients. So when we get adverse
13 event reports, it's difficult to know what the
14 frequency of those adverse events are in the
15 population receiving a drug.

16 One benefit of the registry is that it
17 allows us to register all patients receiving
18 pexidartinib so that we can gather data about liver
19 toxicity. The registry will prompt the physician
20 to gather specific pieces of data and submit that
21 to the company on a periodic basis, and that is all
22 defined, would be defined. The company will gather

1 those pieces of data and then provide reports to
2 the FDA on a prespecified schedule.

3 DR. RINI: Thank you. I'm to remind you to
4 state your name before you speak for our
5 transcriber. Thanks.

6 DR. STRADER: I beg your pardon. Doris
7 Strader. I just wanted to know how long is the
8 registry? Is it 5 years, or 2 years, or do we know
9 long?

10 DR. WARD? Sorry. This is Ashley Ward from
11 the FDA. That was me before as well. Those
12 details are still being worked out.

13 DR. LEWIS: Val Lewis, MD Anderson. Is it a
14 possibility the registry would be shorter than the
15 people on the drugs; so if it's a 2-year registry
16 but you have people on it for an indefinite amount
17 of time?

18 DR. WARD: Well, people could be on the
19 drugs for a lifetime, and I don't anticipate the
20 registry lasting for an entire lifetime. So we
21 expect the registry to last as long as it is
22 necessary and to gather the information as

1 necessary to make an informed decision.

2 DR. LEWIS: So what will be the mechanism
3 for monitoring for late effects? What if it does
4 nothing in the first 2, 3, 4, 5, 6 years, but then
5 people will be on it for 10, 12, 13 years; who will
6 be monitoring for the late effects, then?

7 DR. WARD: Again, this is Ashley Ward from
8 the FDA. Like I said, the specific details of the
9 duration of the registry are still being worked out
10 through the review cycle, but the intention is to
11 capture patients who have long-term sequelae from
12 the hepatotoxicity.

13 DR. LEWIS: [Inaudible - off mic].

14 DR. RINI: Your microphone.

15 DR. LEWIS: So it would be longer than
16 5 years; Val Lewis.

17 DR. WARD: Ashley Ward from the FDA. It's
18 not been determined. I anticipate that it will be
19 longer than 5 years.

20 DR. RINI: Okay. Other comments around
21 anything we've discussed or anything that's been
22 presented for discussion?

1 (No response.)

2 DR. RINI: FDA, anything else you need
3 discussed?

4 DR. FASHOYIN-AJE: This is Lola Fashoyin-
5 Aje. Just to follow up briefly on an issue that
6 came up, which was, are there any data to support
7 starting at a lower dose and then escalating to
8 mitigate the incidence of aminotransferase
9 elevations, the sponsor responded and stated that
10 approximately 11 patients had this experience of a
11 lower dose and that those patients continued to
12 regress.

13 I wanted to state for the record that that
14 characterization seems to be a bit generous. I
15 think that what we can say is that those patients
16 did not progress. I don't know that we can say
17 that there was regression at the lower dose.

18 DR. RINI: Courtney?

19 MS. PREUSSE: Sorry. One last quick
20 question, point of clarification so that I
21 understand. Courtney Preusse, consumer rep. The
22 only data that I see around non-recoverable her

1 about her hepatotoxicity is in those patients who
2 were also receiving other drugs for, let's say,
3 cancer therapies.

4 For example, on slide CS-14 and CS-16, the
5 CS-14 patients were only in TGCT patients, and all
6 of those patients recovered. Of course, this is
7 still a limited dataset. Of course, it's not as
8 longitudinal as we would like, but all of those
9 patients recovered.

10 Am I reading that correctly as compared to
11 CS-16, where those patients were also receiving
12 cancer therapy and had adverse outcomes -- well,
13 had non-recoverable outcomes?

14 DR. RINI: I think so. Yes, I think you're
15 reading that correctly.

16 Any other discussion items? Okay; one more.

17 DR. VILLALOBOS: Quickly on REMS component.
18 I understand that it's necessary to follow up for
19 data and see if there's long-term effects of this.
20 However, it also is an onerous activity. Will that
21 unnecessarily reduce access to this drug to
22 patients that actually need it?

1 Understandably, these are primarily being
2 given by oncologists who give drugs that are
3 typically much more toxic than this drug. So
4 understanding that this is a benign disease, though
5 with significant morbidity on its own, is this
6 absolutely necessary to include a REMS overall for
7 this drug?

8 DR. FASHOYIN-AJE: The is Lola Fashoyin-Aje
9 from the FDA. I think your points are well taken.
10 I think we have some proposals on the table that
11 are under discussion to minimize the burden of the
12 REMS, and we recognize it very well. Many of us
13 are medical oncologists and have had to train to be
14 certified.

15 However, I think that the issue of this
16 disease not being a lethal one is one that is I
17 think critical to understanding why if approved,
18 FDA would be requiring a REMS. I think as
19 Dr. Strader mentioned, the liver injury that we've
20 seen and the liver injury that may be occurring
21 that we have not observed clinically or that is
22 maybe subclinical, we have some hint of that from

1 the few biopsies that were obtained, really require
2 that prescribers be well informed as to the risk of
3 hepatotoxicity and the appropriate patient
4 population for whom this would be an appropriate
5 therapy.

6 So we feel strongly that if approved, a REMS
7 would be required, even with considering the burden
8 of such a program.

9 DR. VILLALOBOS: That was Victor Villalobos,
10 UC Denver.

11 DR. RINI: Thank you.

12 If there's no further discussion on this
13 question, we'll now begin the voting process. We
14 will be using an electronic voting system for this
15 meeting. Once we begin, the vote buttons will
16 start flashing and will continue to flash even
17 after you've entered your vote. Please press the
18 button firmly that corresponds to your vote. If
19 you are unsure of your vote or wish to change your
20 vote, you may press the corresponding button until
21 the vote is closed.

22 After everyone has completed their vote, the

1 vote will be locked in. The vote will then be
2 displayed on the screen. The DFO will read the
3 vote from the screen into the record. Next, we
4 will go around the room and each individual who
5 voted will state their name and vote into the
6 record. Please also state the reason why you voted
7 as you did, if you'd like to.

8 I'm going to go back and basically just
9 summarize the discussion. I think what we heard
10 was that I think most people believe there's
11 benefit to this drug, certainly as evidenced by
12 response rate. I think there's less certainty
13 around the PRO type data with all the missing data
14 and just whether or not that's meaningful to
15 patients. There's no question it's meaningful to
16 individual patients as we heard here today and saw
17 the more dramatic anecdotes and the context of the
18 benefit and the absolute benefit on a grand scale.

19 I think the other major theme around the
20 discussion was regarding hepatotoxicity and the
21 REMS, in that there's concern over hepatotoxicity
22 and certainly that a REMS is needed to monitor, and

1 that there are still a lot of open questions given
2 the relatively small sample size because of the
3 rarity of the disease around drug and dose and
4 duration and context, and all that in terms of a
5 risk of hepatotoxicity.

6 This is the question that we'll be voting
7 on. Does the demonstrated benefit of pexidartinib
8 outweigh the risk of the drug in the proposed
9 indication? Are there any questions regarding the
10 actual voting question?

11 (No response.)

12 DR. RINI: Please press the button on your
13 microphone that corresponds to the vote. You'll
14 have approximately 20 seconds to vote. Please
15 press the button firmly. After you have made your
16 selection, the light may continue to flash. If you
17 are unsure of your vote or wish to change your
18 vote, please press the corresponding button again
19 before the vote is closed. Please vote now.

20 (Voting.)

21 LCDR SHEPHERD: For the record, the vote is
22 12 yes; 3 no; zero abstain; and zero no voting.

1 DR. RINI: Now that the vote is complete,
2 we'll go around the table and have everyone who
3 voted state their name, vote, and if you want to,
4 please state the reason why you voted as we did
5 into the record.

6 P.K. is not voting. Do you want to comment
7 on anything?

8 (Dr. Morrow gestures no.)

9 DR. RINI: No. Okay. Starting with Dr
10 Calis, your vote and the reason why you voted as
11 you did.

12 DR. CALIS: I voted yes, and the reason for
13 that is simply that I believe the data regarding
14 the efficacy in the context of a debilitating
15 condition. I am very concerned about the liver
16 toxicity. I'm supportive of the REMS. And I think
17 that it would be helpful, but I'm also concerned
18 about the liver injury, the direct liver injury and
19 how much -- I think more of the REMS is going to be
20 weighted towards evaluation than mitigation maybe
21 possibly. But hopefully it will be used in the
22 context of a restricted system so there can be

1 adequate monitoring. But I'm still concerned about
2 those patients that may have this unpredictable
3 liver toxicity.

4 DR. VILLALOBOS: Victor Villalobos. I voted
5 yes. This is an ultra rare disease with no good
6 therapies available to patients for it and that it
7 can be highly morbid. I feel that getting more
8 real-world data on how we can use this drug in a
9 safe and effective manner will be really important
10 for the academic community going forward.

11 DR. LEWIS: Val Lewis, MD Anderson. I voted
12 yes. While I'm quite concerned about the
13 ramifications of the drug on the liver, this drug
14 does have the potential really to be life changing
15 for those individuals who have the diffuse PVNS.

16 DR. STRADER: Doris Strader. I voted no.
17 While I am very sympathetic to the fact that this
18 drug causes some debilitating effects for people
19 that can be lifelong, I was concerned about the
20 missing data and was not convinced that there was
21 real clinically meaningful benefit.

22 Likewise, while I understand that the

1 hepatic injury is not liver failure, I am concerned
2 that this may be persistent for a lifetime, and I
3 worry that there was not enough to suggest that
4 there was going to be rigorous monitoring of
5 patients over their lifetimes.

6 DR. WEINFURT: Kevin Weinfurt. I voted yes.
7 My vote was informed by the considerations raised
8 earlier, this being a rare condition for which
9 there are no good options. It seems pretty clear
10 that there's a signal here. It's frustrating to
11 not be able to characterize the exact distribution
12 of benefit in this case, but it seems to me
13 sufficient enough to start to get more experience
14 with it with careful monitoring.

15 MS. BROYLES: Susan Broyles, patient rep,
16 and I voted yes because of the clinical benefit
17 that is apparent in the patients that are here plus
18 the study results. The hepatic problem needs
19 intense follow-up certainly, but I think the
20 patients that face this have had no other recourse,
21 and this at least opens up some doors for them.
22 Thank you.

1 MS. PREUSSE: Courtney Preusse, consumer
2 rep. I also voted yes for the reasons already
3 stated, as well as what somebody mentioned earlier,
4 which is by doing nothing, there's injury of the
5 disease itself, potential amputation, the loss of
6 mobility. There are effects and a lack of
7 treatment options for patients living with the
8 disease.

9 It's not like doing nothing means that these
10 patients will continue on indefinitely with
11 mobility. It's quite the opposite. So this
12 provides patients with an option to at least try to
13 continue some normal semblance of life. Thanks.

14 DR. HOFFMAN: Philip Hoffman. I voted yes.
15 While I do take Dr. Lewis' comment earlier about
16 this is a minority of a minority that responds to
17 this, I think it's basically an orphan drug. It's
18 a rare condition, and it's the only thing that is
19 available when surgery is no longer an option.

20 I am heartened by the fact that because this
21 is only going to be available through specialty
22 pharmacies, and registered physicians, and the REMS

1 program, I am comfortable that the liver risk is
2 going to hopefully caught early and monitored. And
3 it may turn out, as has happened with REMS in other
4 drug approvals, that eventually it may even
5 disappear if we find out that it's easier to manage
6 than we think it is.

7 DR. KLEPIN: Heidi Klepin. I voted yes for
8 many of the reasons that were articulated. This is
9 an unmet need. It's a very debilitating disease.
10 There's evidence of efficacy, and I found the PRO
11 evidence to be supportive despite the limitations.

12 Like others, like everyone, I think, I'm
13 concerned about the hepatotoxicity. I was somewhat
14 reassured by the data supporting the reversibility
15 for the patients on this trial as opposed to some
16 of the other data that was pointed out, and also
17 the fact that there is a plan for monitoring. I
18 would just echo others in saying I think the REMS
19 program will be important. I'm reassured by the
20 fact that it might be a little bit more difficult
21 to prescribe it, so I think that's actually going
22 to be important initially, to make sure the right

1 people are actually doing the prescribing, that
2 they're educated, they know what to do.

3 I'm reassured that there will be a registry
4 and echo the sentiment that it needs to hopefully
5 persist long enough to give us some data past that
6 2-year mark so that we can really understand late
7 effects; and then would echo the importance of
8 education, not just for providers but for patients
9 as they're interfacing with their other providers
10 so that they have some information to be able to
11 take to their primary care doctor just to make sure
12 everybody's in the loop about here's something I'm
13 taking, here are the things we need to be thinking
14 about, and then making sure that for providers,
15 that we are disseminating ongoing results so that
16 we can further refine our discussions with
17 patients.

18 DR. RINI: Thank you. Brian Rini. I'll go
19 last so I can summarize. Greg?

20 DR. NOWAKOWSKI: Greg Nowakowski. I voted
21 yes, primarily because it's an active drug in this
22 indication, which results in significant response

1 rates and hopefully will translate to clinical
2 benefit.

3 I am concerned about liver toxicity. Like
4 the others, I think the REMS program, which is in
5 place, will alleviate some of these concerns, in
6 addition to patient selection for the therapy will
7 be very important. I think the orthopedic surgeon
8 colleagues agreed that this is a drug for a
9 minority of the minority patients, so if you
10 actually select the right patient population, those
11 which are really in a very dire situation, this
12 could be a benefit and work this benefit-to-risk
13 ratio even with this risk of liver toxicity.

14 DR. ULDRICK: Tom Uldrick. I voted yes as
15 well. I think that the activity data was quite
16 compelling, and really the hard part in a study
17 like this is showing the clinical benefit. I think
18 the totality of the data that was shown suggests
19 that there probably is clinical benefit. There are
20 intrinsic problems with the range of motion as an
21 endpoint.

22 I was perhaps most swayed by the PROMIS

1 tipping-point analysis. PROMIS is a tool that's
2 been used in other rheumatologic diseases, and the
3 degree of benefit that was shown in the sensitivity
4 analyses appears to be what's been accepted as
5 presented by the sponsor and other diseases like
6 rheumatoid arthritis.

7 So when I look at a drug that has a response
8 rate of about 50 percent, as you look in people who
9 stay on it, and a range of qualities that suggest
10 clinical benefit, I think that that benefit of 0.3
11 percent severe liver toxicity ratio is acceptable,
12 especially if and when you have a REMS program.

13 DR. CRISTOFANILLI: Massimo Cristofanilli,
14 and I voted no because the question was very clear;
15 if there is any doubt that there is a risk benefit
16 for this drug if it gets approved? I think many
17 questions have been raised.

18 First of all, the clinical trial, a small
19 trial with a lot of missing data. If this was an
20 incredible condition, we will probably discuss the
21 same issue. The patients also had signals of
22 significant liver toxicity I think we were all

1 raised [indiscernible] about. The disease itself
2 is not a terminal condition. It's certainly
3 debilitating, and there is an alternative that
4 surgery, in some point, when you get to an advanced
5 disease, is just not possible.

6 The other thing is that the label indication
7 suggests to me if this drug goes out in the
8 community, it will be used in place of surgery for
9 patients who are simply symptomatic because being
10 inoperable is a very subjective criteria. These
11 are the reasons for which I voted no.

12 DR. HALABI: Susan Halabi. I also voted no.
13 While I do recognize there is an unmet need and
14 this is a rare disease, in my opinion, looking at
15 the data, while there is some clear activity for
16 the drug, I was concerned with exposing the
17 patients to hepatotoxicity.

18 I was also concerned about the clinical
19 outcome data because a lot of the problems
20 [indiscernible] and other quality-of-life data were
21 based on unvalidated scales, with the exception of
22 PROMIS. There were a lot of missing data, although

1 the sensitivity analysis did show it's going in the
2 right direction.

3 The final concern I had, I did not see
4 longitudinal data beyond the 25 weeks, and that's
5 why I took more of the conservative side because I
6 was worried about the efficacy and quality-of-life
7 data beyond 25 weeks.

8 DR. HUNSBERGER: Sally Hunsberger. I took
9 the other view. I believe the sensitivity analyses
10 do show that there is an effect. We can't quantify
11 the effect. I thought the primary endpoint of
12 tumor shrinkage was very strong, so I thought that
13 was important.

14 I think the REMS program will be really
15 important, and that's our best way to get safety
16 data and understand the long-term effect. I think
17 also what's going to happen in practice is what we
18 saw happen in the study, which is that if you have
19 progression or if you have severe adverse events,
20 people are going to stop using the drug. So it's
21 not like they're going to go on it and stay on it,
22 even if there's no benefit.

1 So I think the study actually showed us
2 what's going to happen in real life, but I do think
3 the REMS program will be really important.

4 DR. RINI: Thank you. Brian Rini. Just to
5 summarize, I voted yes. I think what we heard is
6 that the strengths are this is a
7 clearly -- although rare, morbid, and debilitating
8 condition without any viable systemic options. I
9 think the response rate was robust as I think
10 everybody mentioned. I think there is a belief in
11 the functional improvement even despite some of the
12 limitations of the data. I think somebody over
13 here said it, that I think certainly for individual
14 patients this has the potential to be a
15 life-changing drug.

16 I think some of the weaknesses are as
17 mentioned, just the limitations of the functional
18 analysis in the missing data. I think the
19 hepatotoxicity is clearly a concern, especially, as
20 I think Heidi mentioned, in young patients, the
21 education. I have young germ-cell patients, and
22 they tend to get lost to follow-up, and they don't

1 want to get their LFTs checked twice a week. So I
2 think patient education and provider education in
3 the REMS will be critical.

4 I think there are still a lot of questions
5 about how best to administer the drug, in whom
6 relative to timing of surgeries, how long the dose,
7 and duration. We heard a number of questions that
8 will need to be sorted out moving forward, but in
9 summary, I think there was a positive benefit-risk
10 to the drug.

11 **Adjournment**

12 DR. RINI: We'll now adjourn the morning
13 session and break for lunch. We'll reconvene in
14 this room at 1:00, at which time we'll begin the
15 afternoon session. Thank you.

16 (Whereupon, at 11:50 a.m., the morning
17 session was adjourned.)
18
19
20
21
22