

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH

PEDIATRIC SUBCOMMITTEE OF THE  
ONCOLOGIC DRUGS ADVISORY COMMITTEE (pedsODAC)

Morning Session

Thursday, June 20, 2018

9:00 a.m. to 11:17 a.m.

FDA White Oak Campus  
Building 31, the Great Room  
10903 New Hampshire Avenue  
Silver Spring, Maryland

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Lauren Tesh Hotaki, PharmD, BCPS**

4 Division of Advisory Committee and Consultant

5 Management

6 Office of Executive Programs, CDER, FDA

7

8 **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)**

9 **Alberto S. Pappo, MD**

10 *(Chairperson, pedsODAC)*

11 Member and Head, Division of Solid Malignancies

12 St Jude Children's Research Hospital

13 Professor of Pediatrics

14 University of Tennessee Health Science Center

15 Memphis, Tennessee

16

17 **Courtney J. Preusse, MA**

18 *(Consumer Representative)*

19 Senior Research Administrator

20 Clinical Research Division

21 Fred Hutchinson Cancer Research Center

22 Seattle, Washington

1     **Brian I. Rini, MD, FACP**

2     Professor of Medicine, Lerner College of Medicine

3     Leader, GU Program

4     Department of Hematology and Oncology

5     Cleveland Clinic Taussig Cancer Institute

6     Cleveland, Ohio

7

8     **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBER**

9     **(Non-Voting)**

10    **Phuong Khanh (P.K.) Morrow, MD, FACP**

11    *(Industry Representative)*

12    Executive Medical Director, Amgen Oncology

13    Therapeutic Area Head, US Medical Organization

14    One Amgen Center Drive

15    Thousand Oaks, California

16

17

18

19

20

21

22

1       **TEMPORARY MEMBERS (Voting)**

2       **Anne L. Angiolillo, MD**

3       Director, Leukemia & Lymphoma Program

4       Division of Oncology

5       Center for Cancer and Blood Disorders

6       Children's National Health System

7       Professor of Pediatrics

8       The George Washington University School of Medicine

9       Washington, District of Columbia

10

11       **Catherine Bollard, MBChB, MD**

12       *(Participation in Morning Session Only)*

13       Director, Center for Cancer and Immunology Research

14       Professor of Pediatrics and Immunology

15       Children's National Health System

16       The George Washington University

17       Washington, District of Columbia

18

19

20

21

22

1     **Steven G. DuBois, MD**

2     Director, Experimental Therapeutics

3     Dana-Farber/Boston Children's Hospital

4     Associate Professor of Pediatrics

5     Harvard Medical School

6     Boston, Massachusetts

7

8     **Ira J. Dunkel, MD**

9     *(Participation in Morning Session Only)*

10    Professor of Pediatrics

11    Weill Cornell Medical College

12    Pediatric Oncologist

13    Department of Pediatrics

14    Memorial Sloan Kettering Cancer Center

15    New York, New York

16

17    **Julia Glade Bender, MD**

18    Vice Chair for Clinical Research

19    Department of Pediatrics

20    Memorial Sloan Kettering Cancer Center

21    New York, New York

22

1     **Naynesh R. Kamani, MD**

2     Attending Physician

3     Division of Allergy-Immunology

4     Children's National Health System

5     Clinical Professor of Pediatrics

6     George Washington University School of Medicine and

7     Health Sciences

8     Washington, District of Columbia

9  
10    **Theodore W. Laetsch, MD**

11    Associate Professor of Pediatrics

12    Norma and Jim Smith Professor of Clinical

13    Excellence

14    Eugene P. Frenkel, M.D. Scholar in Clinical

15    Medicine

16    Harold C. Simmons Comprehensive Cancer Center

17    University of Texas Southwestern Medical Center

18    Experimental Therapeutics Program Leader

19    Children's Health

20    Dallas, Texas

21

22

1     **Donna M. Ludwinski**

2     *(Patient Representative)*

3     New York, New York

4  
5     **Nirali N. Shah, MD, MHSc**

6     Lasker Clinical Research Scholar

7     Head, Hematologic Malignancies Section

8     Pediatric Oncology Branch

9     National Cancer Institute

10    National Institutes of Health (NIH)

11    Bethesda, Maryland

12  
13    **Malcolm A. Smith, MD, PhD**

14    Associate Branch Chief for Pediatrics

15    Clinical Investigations Branch

16    Cancer Therapy Evaluation Program

17    Division of Cancer Treatment and Diagnosis

18    National Cancer Institute, NIH

19    Rockville, Maryland

20

21

22

1       **FDA PARTICIPANTS (Non-Voting)**

2       **Gregory H. Reaman, MD**

3       Associate Director for Pediatric Oncology

4       Oncology Center of Excellence

5       Office of the Commissioner

6       Associate Director for Oncology Sciences

7       Office of Hematology and Oncology Products (OHOP)

8       Office of New Drugs (OND), CDER, FDA

9

10      **Sonia Singh, MD**

11      Medical Officer

12      Division of Oncology Products 2 (DOP2)

13      OHOP, OND, CDER, FDA

14

15      **Nicole Drezner, MD**

16      Medical Officer

17      DOP2, OHOP, OND, CDER, FDA

18

19      **Sandra Casak, MD**

20      Medical Officer

21      DOP2, OHOP, OND, CDER, FDA

22

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Alberto Pappo, MD	11
5	Conflict of Interest Statement	
6	Lauren Tesh Hotaki, PharmD, BCPS	14
7	FDA Introductory Remarks	
8	Relevant Molecular Targets for	
9	Cancer Drug Development in Children	
10	Gregory Reaman, MD	20
11	<b>Guest Speaker Presentation</b>	
12	Immunotherapies for Pediatric Cancer	
13	Current Status and Future Prospects	
14	(with an emphasis on targets)	
15	Crystal Mackall, MD	28
16	Clarifying Questions	54
17	<b>Guest Speaker Presentation</b>	
18	Immunogenomic Approaches to More Effective	
19	Childhood Cancer Therapies	
20	Kris Bosse, MD	67
21	Clarifying Questions	89
22		

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

C O N T E N T S (continued)

AGENDA ITEM	PAGE
Questions to the Subcommittee and Discussion	100
Adjournment	119

1                   P R O C E E D I N G S

2                   (9:00 a.m.)

3                   **Call to Order**

4                   **Introduction of Committee**

5                   DR. PAPP0: Good morning. We're going to  
6 get started. I would first like to remind everyone  
7 to please silence your cell phones, smartphones,  
8 and any other devices if you have not already done  
9 so. I would also like to identify the FDA press  
10 contact, Amanda Turney. If you are present, please  
11 stand.

12                   My name is Alberto Pappo. I'm a pediatric  
13 oncologist, and I will be chairing today's meeting.  
14 I will now call the morning session of the  
15 Pediatric Oncology Subcommittee of the Oncology  
16 Drugs Advisory Committee to order. We'll start by  
17 going around the table and introducing ourselves.  
18 We will start with the FDA to my left and go around  
19 the table.

20                   DR. REAMAN: Gregory Reaman from the  
21 Oncology Center of Excellence.

22                   DR. CASAK: Sandra Casak, FDA.

1 DR. DREZNER: Nicole Drezner, FDA.

2 DR. SINGH: Sonia Singh, FDA.

3 DR. KAMANI: Naynesh Kamani, Children's  
4 National, Washington, D.C.

5 DR. LAETSCH: Theodore Laetsch, UT  
6 Southwestern.

7 DR. DuBOIS: Steve DuBois, Dana-Farber,  
8 Boston Children's.

9 DR. HOTAKI: Lauren Hotaki, designated  
10 federal officer.

11 DR. RINI: Brian Rini. I'm a GU medical  
12 oncologist from Cleveland Clinic.

13 MS. PREUSSE: Courtney Preusse, consumer  
14 rep.

15 MS. LUDWINSKI: Donna Ludwinski, Solving  
16 Kids' Cancer, patient advocate.

17 DR. BENDER: Julia Glade Bender, Memorial  
18 Sloan Kettering, pediatric oncologist.

19 DR. SMITH: Malcolm Smith, the National  
20 Cancer Institute.

21 DR. DUNKEL: Ira Dunkel. I'm a pediatric  
22 neuro-oncologist at Memorial Sloan Kettering and

1 chair the Pediatric Brain Tumor Consortium.

2 DR. BOLLARD: Cath Bollard, Children's  
3 National and George Washington University,  
4 Washington, D.C.

5 DR. MORROW: P.K. Morrow, industry  
6 representative, Amgen.

7 DR. PAPPO: Thank you very much.

8 For topics such as those being discussed at  
9 today's meeting, there are often a variety of  
10 opinions, some of which are quite strongly held.  
11 Our goal is that today's meeting will be a fair and  
12 open forum for discussion of these issues, and that  
13 individuals can express their views without  
14 interruption. Thus, as a gentle reminder,  
15 individuals will be allowed to speak into the  
16 record only if recognized by the chairperson, and  
17 we look forward to a productive meeting.

18 In the spirit of the Federal Advisory  
19 Committee Act and the Government in the Sunshine  
20 Act, we ask that the advisory committee members  
21 take care that their conversations about the topic  
22 at hand take place in the open forum of the

1 meeting. We are aware that members of the media  
2 are anxious to speak with the FDA about these  
3 proceedings. However, FDA will refrain from  
4 discussing the details of this meeting with the  
5 media until its conclusion. Also, the committee is  
6 reminded to please refrain from discussing the  
7 meeting topic during breaks or lunch. Thank you  
8 very much.

9 Lauren?

10 **Conflict of Interest Statement**

11 DR. HOTAKI: The Food and Drug  
12 Administration is convening today's meeting of the  
13 Pediatric Oncology Subcommittee of the Oncologic  
14 Drugs Advisory Committee under the authority of the  
15 Federal Advisory Committee Act of 1972. With the  
16 exception of the industry representative, all  
17 members and temporary voting members of the  
18 committee are special government employees or  
19 regular federal employees from other agencies and  
20 are subject to federal conflict of interest laws  
21 and regulations.

22 The following information on the status of

1 this committee's compliance with federal ethics and  
2 conflict of interest laws, covered by but not  
3 limited to those found at 18 U.S.C. Section 208, is  
4 being provided to participants in today's meeting  
5 and to the public.

6 FDA has determined that members and  
7 temporary voting members of the committee are in  
8 compliance with federal ethics and conflict of  
9 interest laws.

10 Under 18 U.S.C. Section 208, Congress has  
11 authorized FDA to grant waivers to special  
12 government employees and regular federal employees  
13 who have potential financial conflicts when it is  
14 determined that the agency's need for a special  
15 government employee's services outweighs his or her  
16 potential financial conflict of interest or when  
17 the interest of a regular federal employee is not  
18 so substantial as to be deemed likely to affect the  
19 integrity of the services which the government may  
20 expect from the employee.

21 Related to the discussion of today's  
22 meeting, members and temporary voting members of

1 this committee have been screened for potential  
2 financial conflicts of interest of their own, as  
3 well as those imputed to them, including those of  
4 their spouses or minor children and, for purposes  
5 of 18 U.S.C. Section 208, their employers. These  
6 interests may include consulting; expert witness  
7 testimony; contracts, grants, CRADAs; teaching,  
8 speaking, writing; patents and royalties; and  
9 primary employment.

10 The agenda for the morning session involves  
11 the review and discussion of the Food and Drug  
12 Administration Reauthorization  
13 Act, FDARA 2017, mandated relevant molecular target  
14 list now posted on the FDA website,  
15 <https://www.fda.gov/aboutFDA/oncology>  
16 [centerofexcellence/pediatric oncology](https://www.fda.gov/aboutFDA/oncology).

17 The FDA is required by statute to review and  
18 update the previously approved published list. The  
19 focus of the discussion will be limited to target  
20 two classes included in the  
21 Relevant Pediatric Molecular Target List:  
22 1) targets linked to cell lineage; and 2) targets

1 on normal immune cells and cells in the tumor micro  
2 environment. Planned introductory presentations  
3 will be on, 1) cell-based therapy approaches to  
4 childhood cancer; and 2) novel membrane antigen  
5 determinants in pediatric tumors.

6 This is a particular matters meeting during  
7 which general issues will be discussed. Based on  
8 the agenda for today's meeting and all financial  
9 interests reported by the committee members and  
10 temporary voting members, no conflict of interest  
11 waivers have been issued in connection with this  
12 meeting. To ensure transparency, we encourage all  
13 standing committee members and temporary voting  
14 members to disclose any public statements that they  
15 have made concerning the topic at issue.

16 With respect to FDA's invited industry  
17 representative, we would like to disclose that Dr.  
18 P.K. Morrow is participating in this meeting as a  
19 nonvoting industry representative, acting on behalf  
20 of regulated industry. Dr. Morrow's role at this  
21 meeting is to represent industry in general and not  
22 any particular company. Dr. Morrow is employed by

1 Amgen.

2           Regarding FDA's guest speakers, the agency  
3 has determined that the information to be provided  
4 by these speakers is essential. The following  
5 interests are being made public to allow the  
6 audience to objectively evaluate any presentation  
7 and/or comments made by the speakers.

8           Dr. Crystal Mackall has acknowledged that  
9 she holds stocks in Allergy Therapeutics and Lyell  
10 Immunopharma. She is also the founder of Lyell  
11 Immunopharma. She receives consulting fees from  
12 Lyell Immunopharma and Unum Therapeutics.

13 Dr. Mackall is a scientific advisor to Unum  
14 Therapeutics and Apricity. She receives royalties  
15 from the NIH for the CD22 CAR that was licensed by  
16 Juno Therapeutics.

17           Dr. Kristopher Bosse has acknowledged that  
18 he is involved in two NIH grants, and his role in  
19 these grants is a researcher, principal  
20 investigator, and co-investigator. The U54  
21 CA232568 grant is for the discovery and development  
22 of optimal immunotherapeutic strategies for

1 childhood cancers. This is a multi-institutional  
2 center grant to discover new immunotherapeutic  
3 strategies for children's childhood cancers, as  
4 part of the Pediatric Immunotherapy Discovery and  
5 Development Network. The award period for this  
6 grant is from September 1, 2018 to August 31, 2023.

7 The K08CA230223 grant is on targeting the  
8 GPC2 oncoprotein with immune-based therapies in  
9 neuroblastoma. The major goal of this project is  
10 to define the mechanisms, underlying aberrant GPC2  
11 cell surface expression, and oncogenicity and  
12 neuroblastoma, and to determine the efficacy and  
13 safety profile of GPC2 targeting  
14 immunotherapeutics. The award for this grant  
15 period is July 9, 2018 through June 30, 2023. In  
16 addition, Dr. Bosse holds patents that are focused  
17 on the discovery and development of immunotherapies  
18 for cancer, and he receives research funding from  
19 Zymeworks and Tmunity.

20 As guest speakers, Dr. Mackall and Bosse  
21 will not participate in committee deliberations,  
22 nor will they vote.

1           We would like to remind members and  
2 temporary voting members that if the discussions  
3 involve any other topics not already on the agenda  
4 for which an FDA participant has a personal or  
5 imputed financial interest, the participants need  
6 to exclude themselves from such involvement, and  
7 their exclusion will be noted for the record.

8           FDA encourages all other participants to  
9 advise the committee of any financial relationships  
10 that they may have had regarding the topic at issue  
11 that could be affected by the committee's  
12 discussions. Thank you.

13           DR. PAPP0: Thank you very much, Lauren.

14           We will proceed with an FDA introductory  
15 remark from Dr. Greg Reaman.

16           **FDA Introductory Remarks - Gregory Reaman**

17           DR. REAMAN: Good morning. I'd like to  
18 extend my welcome to all of you also and express  
19 our, appreciation for your willingness to help us  
20 as we comply with some legislatively mandated  
21 obligations here.

22           As a little bit of background, we're really

1 here today to talk about some requirements related  
2 to legislative initiatives, and the fact that the  
3 Pediatric Research Equity Act, which supports drug  
4 development in children, has really been markedly  
5 less obvious -- in fact, it's been totally  
6 absent -- in the cancer arena. We all know and  
7 recognize that many targeted cancer therapies are  
8 likely equitable to children. A recent passing of  
9 the RACE Act, the Research to Accelerate Cures and  
10 Equity, essentially amends the Pediatric Research  
11 Equity Act.

12 Just a bit of background, there are two  
13 major pieces of legislation in the U.S., which  
14 guide drug development in children, PREA, which  
15 requires mandatory studies for the specific  
16 indication under review. That is a new drug for  
17 the treatment of breast cancer has to be studied  
18 for breast cancer in children.

19 Drugs that are developed for rare situations  
20 where there's orphan designation are exempt from  
21 any of the PREA requirements. Most of the cancers  
22 that may actually cross the adult and pediatric age

1 divide receive orphan designation, so they're  
2 exempt from the pediatric requirements.

3 The Best Pharmaceuticals for Children Act is  
4 the major piece of legislation that we've tried to  
5 use, to the best of our ability, to initiate early  
6 studies. These are totally voluntary, and as you  
7 know, this afternoon, we'll actually discuss a  
8 product where there may be consideration for the  
9 issuance of a written request.

10 The Race for Children Act was passed in  
11 August of 2017 and incorporated as Title V, Section  
12 504 of FDARA, the FDA Reauthorization Act. It  
13 requires evaluation of new molecularly targeted  
14 drugs and biologics intended for the treatment of  
15 adult cancers, but directed at a molecular target  
16 substantially relevant to the growth or progression  
17 of a pediatric cancer.

18 The legislation further defines what these  
19 molecularly targeted pediatric cancer  
20 investigations are. They must provide clinically  
21 meaningful study data using appropriate  
22 formulations regarding dosing safety and

1 preliminary efficacy to inform potential pediatric  
2 labeling, and most importantly, it eliminates the  
3 orphan exemption for pediatric studies.

4           The agency interpreted molecular target  
5 quite broadly, as demonstrated here, a molecule in  
6 human cells intrinsically associated with a  
7 particular disease process, etiology, progression,  
8 and drug resistance. There must be evidence that  
9 by addressing the target with some intervention, a  
10 desired therapeutic effect is produced in altering  
11 the disease process.

12           The current implementation status we've had  
13 since 2017, with planning and implementation  
14 coordinated through multiple FDA programs: the  
15 Office of Hematology and Oncology Products and the  
16 Oncology Center of Excellence; the Office of  
17 Pediatric Therapeutics; the Office of Clinical  
18 Pharmacology; the Division of Pediatrics and  
19 Maternal Health; the Office of Regulatory Policy;  
20 and the Office of Chief Counsel.

21           We are mandated to have an open public  
22 meeting. We've actually had two open public

1 meetings, where we reviewed the initial candidate  
2 target list and a subsequent one to review and  
3 finalize that list.

4 The lists are actually posted on this  
5 website. For the last year and a half, we've been  
6 advising sponsors of the new conditions and  
7 requirements for their initial pediatric study  
8 plans, which must be submitted and agreed to prior  
9 to a new drug or a new biologic licensing  
10 application with plan submission dates after August  
11 of 2020.

12 Guidances, we have two guidances that have  
13 been written. They're in clearance and have been  
14 in clearance for quite some time, and we anticipate  
15 draft publication some time within the next several  
16 months.

17 The framework for defining relevance is  
18 pretty straightforward: presence of the target in  
19 one or more pediatric cancers, not necessarily  
20 prevalence dependent; target function, as I  
21 mentioned previously; nonclinical evidence, general  
22 and pediatric specific that target inhibition and

1 affects tumor growth; clearly adult clinical  
2 experience and any pediatric clinical experience  
3 that might be available; availability of predictive  
4 or response biomarkers; the localization of targets  
5 for immunotherapy-directed interventions; and  
6 therapeutic agent availability or in development.

7 The target lists we envision as statutory  
8 requirements to address regulatory uncertainty for  
9 industry, and we envision these as a guide, not a  
10 dictate, for decision-making regarding early  
11 evaluation of specific products and the initial  
12 pediatric study plan or iPSP submission.

13 The designation is relevant and is neither  
14 an absolute nor exclusive requirement. We can  
15 require studies of drugs if the target is not on  
16 the list. Just because a target is on the list  
17 doesn't mean that we're automatically going to  
18 require an early pediatric study.

19 They're not envisioned to restrict authority  
20 or flexibility. The candidate target list was  
21 constructed by the Oncology Center of Excellence  
22 and the pediatric oncology medical officers with

1 input from the National Cancer Institute, and was  
2 actually done collaboratively with the NCI and with  
3 significant input from international content  
4 experts in two open public meetings. We utilized  
5 published peer-reviewed literature, abstracts, and  
6 public databases, and there was no prespecified  
7 minimum requirement for evidence based.

8           There are four classes of targets not meant  
9 to be exclusive, and there's a significant overlap  
10 between these targets, but we utilized this  
11 classification for ease of thinking about this and  
12 presenting in public forum: targets associated with  
13 specific gene abnormalities; targets associated  
14 with cell lineage determinants; and targets on  
15 normal immune cells and cells within the tumor  
16 microenvironment, and both of these classes might  
17 lend themselves to immunotherapeutic interventions  
18 to a number of pediatric tumors; and another large  
19 group of other targets that are essentially  
20 pathways and functional mechanisms present in both  
21 normal as well as cancer cells that actually form  
22 the basis for therapeutic interventions.

1           We're here today because another mandate is  
2 updating the lists and publishing the lists. I've  
3 mentioned already where the lists are, on the FDA  
4 website. We have decided to hold semiannual public  
5 meetings, and this is one such meeting, to get some  
6 input on recommendations for additions to the  
7 target lists or deletions, and utilizing both  
8 internal and external advice panels. We've had  
9 open for several months now an open docket for  
10 comments,. To date, we've received no a  
11 recommendations for additions or deletions.

12           This is the list. I think they're in your  
13 briefing packages, the targets associated with  
14 specific cell lineage determinants that we'll  
15 consider today, so we're looking for any  
16 suggestions for things that should be added or  
17 things that no longer would be considered relevant;  
18 then targets on normal immune cells and cells in  
19 the tumor microenvironment that might be  
20 appropriate for immunotherapeutic exploitation.

21           With that, I would just like to thank our  
22 guest speakers, Drs. Crystal Mackall and Kristopher

1 Bosse, from Stanford and the Children's Hospital of  
2 Philadelphia, respectively, who are going to open  
3 our discussion with some background on where we are  
4 with development of some agents relative to these  
5 two classes; and more importantly, I think, then,  
6 where we are currently, the future, and the  
7 potential. Thanks.

8 DR. PAPPO: Thank you very much, Dr. Reaman.

9 Before we proceed, I just wanted to ask Anne  
10 to introduce herself for the record. She just  
11 joined us.

12 DR. ANGIOLILLO: Thank you. Good morning.  
13 My name is Anne Angiolillo from Children's National  
14 Medical Center and George Washington University  
15 School of Medicine.

16 DR. PAPPO: Thank you very much.

17 We will proceed with a guest presentation  
18 from Dr. Crystal Mackall.

19 **Guest Presentation - Crystal Mackall**

20 DR. MACKALL: Good morning. Thank you for  
21 the opportunity to be here and present to the  
22 committee and to the public. I took Greg's charge

1 to provide an overview of where we stand in terms  
2 of developing immunotherapies for children's  
3 cancers, with an emphasis on targets.

4 By all accounts, immunotherapy has really  
5 been a revolution in the way we approach cancer  
6 patients, especially I think in the adult arena.  
7 You can see there that so much press has been given  
8 both in the lay and scientific press, and most of  
9 that has been driven by the success with immune  
10 checkpoint blockade, well illustrated by the award  
11 of the Nobel Prize in 2018 to Jim Allison and  
12 Takira [sic - Tasuku] Honjo for developing immune  
13 checkpoint inhibitors.

14 Of course, when these waves happen in adult  
15 oncology, the job of the pediatric oncologist is to  
16 figure out how we use these therapeutics, if we  
17 can, for the benefit of children. I think even  
18 though we're a decade into this, I think we still  
19 have a very unclear idea of whether and how we can  
20 use this novel class of therapeutics for children.

21 Part of its biology because -- much of it I  
22 think is biology. This is essentially a new

1 classification in terms of the many ways you can  
2 classify cancers. This is one where you bin them  
3 according to how many mutations the cancers have  
4 that turn out to be targets for potential immune  
5 recognition, and those cancers with the most  
6 mutations happen to be those that are also  
7 responsive to the immune checkpoints. While of  
8 course there are other factors that modulate  
9 responsiveness to that class of therapeutics, this  
10 is standing the test of time as an important  
11 biomarker.

12           So when you look at the span of human cancer  
13 and you say what are the bookends here, you've got  
14 melanoma and lung cancer on the far side with the  
15 most mutations, and then you've got diseases like  
16 rhabdoid tumor and Ewing sarcoma on the pediatric  
17 side as the least mutated of the cancers.

18           If indeed, it requires mutations, and plenty  
19 of them for immune checkpoint inhibitors to work,  
20 this really leads to the concern that this may not  
21 be a class that has value for pediatric cancers.  
22 Even among the histologies that have high

1 checkpoints, it tends to be the patients that have  
2 the highest burden that benefit.

3 Many of our pediatric tumors are on the left  
4 side of this curve, and now let's look at what is  
5 the data with the immune checkpoints. There isn't  
6 too much data published. We did the first trial  
7 with a ipilimumab, and as far as I know, it's the  
8 only single-agent trial with this agent.

9 This was published in 2016. We enrolled 30  
10 children. About half of them had melanoma and  
11 about half had sporadic pediatric tumors of the  
12 more common histologies. We went up pretty high on  
13 the dose, and we did observe that the agent acted  
14 very similarly with regard to autoimmune toxicity  
15 as it did in adults, and the pharmacokinetics were  
16 no different than adults.

17 So we really believed that biologically, the  
18 agent was acting in the same way, but  
19 disappointingly, we saw no evidence for objective  
20 responses in 11 metastatic melanomas.

21 The response rate in adults using RECIST is  
22 relatively low. That could be that we missed it in

1 11, but I think that's unlikely. Then of course,  
2 perhaps the more important question, because of the  
3 pediatric tumors that are more common, we saw  
4 really no responses.

5 Now, there were autoimmune toxicities, and  
6 they were mostly at the very high dose levels. The  
7 patients who developed autoimmunity actually seemed  
8 to have some longer survival. Whether it was  
9 causal or not, we don't know. But again, it  
10 appears that ipilimumab works the same in children  
11 as it does in adults, but rather that the  
12 children's cancers simply are not as, quote/unquote  
13 "immunogenic."

14 Then of course PD-1, which really is the  
15 major immune checkpoint inhibitor, PD-1 blockers  
16 and PDL-1 blockers, the major class in adults.  
17 Those results haven't yet been published, but the  
18 studies with single-agent PD-1 blockade have  
19 largely been completed in sporadic pediatric  
20 tumors.

21 Again, we see that the agent behaves very  
22 similarly in children and adults with regard to

1 tolerability and with regard to pharmacokinetics,  
2 but we did not see impressive responses. Even in  
3 Hodgkin's disease, we don't, I don't think,  
4 understand this, but the level of response was not  
5 the rate that we saw in older adults that reported  
6 with Hodgkin's disease.

7           There are some studies ongoing with  
8 combining immune checkpoints, and I think we still  
9 don't know whether there might be some mileage we  
10 can gain there. I will say there have been some  
11 sporadic responses with that, and time will tell.  
12 We do know, I think, that checkpoint inhibition is  
13 a single therapeutic maneuver and has limited  
14 impact in sporadic pediatric cancers.

15           And additional reason to believe that it's  
16 not because the drug works any differently is this  
17 rare germ line predisposition syndrome called  
18 biallelic mismatch repair, where children are born  
19 with mutations and DNA mismatch repair genes and  
20 almost invariably develop cancers within the first  
21 decade of life. It turns out that these cancers  
22 have very, very high levels of mutations, even

1 higher than any adult cancer, so we're talking  
2 about mutations now above a hundred mutations per  
3 megabase. In fact, when you treat those patients  
4 with PD-1 blockade, you do see impressive  
5 responses.

6 The question that remains unresolved is  
7 whether -- and I don't see if I have a pointer  
8 here -- the area between 10 and 100, that it's  
9 classified as hypermutant. It's not ultra  
10 hypermutant, but there are about 4 or 5 percent of  
11 sporadic pediatric cancers that do have somewhere  
12 between 10 and 100 mutations per megabase.

13 Those numbers have been associated with  
14 response to checkpoint blockade in adults. We  
15 don't really know what the minimal cutoff is, and,  
16 frankly, measuring mutational burden varies,  
17 depending on the assay that you use. So these  
18 things aren't cast in stone, but there still may be  
19 some room for activity.

20 We still have unanswered questions. I don't  
21 think pediatrics should declare the use of these  
22 checkpoint inhibitors a negative in pediatrics. I

1 think there still are some questions, but we do  
2 have to be careful that we don't -- we've already  
3 treated many, many patients, several hundred, with  
4 these checkpoint inhibitors without good responses.  
5 So we have to think, I think, hard about what are  
6 the trials that should be done in the future with  
7 these agents.

8 I think one of the major unanswered  
9 questions is whether this combination checkpoint,  
10 CTLA4 and anti-PD-1 in molecularly stratified  
11 populations and designated hypermutant, may show  
12 activity. This trial we are hoping to get launched  
13 very soon in the Pediatric Cancer Immunotherapy  
14 Trials Network.

15 The other issue that comes up with regard to  
16 the discussion of targets is whether novel  
17 combinations of immunotherapies can induce  
18 responses. Some reason to think this could be the  
19 case is there's some emerging data in neuroblastoma  
20 models of low immunogenicity cancers, fully murine  
21 models now, where you use antibodies plus  
22 anti-PD-1. With or without radiation, we're

1 starting to see some signals in preclinical models.

2           There was a recent report in pancreatic  
3 cancer, the PRINCE study. It's very preliminary,  
4 but pancreatic cancer is also one of those diseases  
5 where single-agent checkpoint inhibition hasn't  
6 been effective and also sort of low mutational  
7 burden. But when you combine anti-CD40 with  
8 anti-PD-1 and chemotherapy, there were some recent  
9 preliminary data presented at ACR.

10           I think there's reason to have continued  
11 interest in these class of agents, but we're left  
12 with a conundrum because there are hundreds of  
13 potential combinations. As Greg pointed out, and  
14 the purpose of this meeting, the RACE Act will make  
15 many more of these agents available for study.

16           I get calls all the time now from companies  
17 with their immunomodulator that they want to study  
18 in children, which is good. We're happy to have  
19 companies interested, but the truth is we cannot  
20 study all of them. So now we have to become, I  
21 think, much more sophisticated with our ability to  
22 prioritize what trials are done in children.

1       Because we haven't had access to drugs in the past,  
2       frankly we haven't had a lot of practice  
3       prioritizing, and prioritization is really tough  
4       business.

5               I would argue that combinations that  
6       demonstrate efficacy in preclinical models that  
7       mirror the low mutational burden of pediatric solid  
8       tumors, in an intact immune system, should be  
9       prioritized, but we still need more of those model  
10       systems.

11              I think that combinations that demonstrate  
12       efficacy in adult low immunogenicity tumors should  
13       be prioritized; just because you think it might be  
14       a good idea and it has activity in melanoma, not  
15       necessarily a combination, that we should  
16       prioritize in children.

17              I also think there are other checkpoints. I  
18       just wanted to mention CD47 because there's been  
19       some exciting data that Robbie Majzner, a junior  
20       investigator in our group, has developed. It's an  
21       example of a novel checkpoint. CD47 turns out to  
22       be a checkpoint for macrophages. Tumors express

1 CD47. It gives macrophages a don't eat me signal.  
2 If you block CD47 on tumors that also express an  
3 eat me signal, you get phagocytosis. It turns out  
4 that our old friend dinutuximab induces induction  
5 of these eat me signals on neuroblastoma and on  
6 osteosarcoma. So when you combine these agents  
7 together in preclinical models, you get very nice  
8 synergy.

9 So we need to keep an open mind about what  
10 checkpoints we're talking about. It may be that in  
11 pediatrics, 47 is a very important checkpoint, so  
12 really continuing to understand the biology is  
13 really important.

14 You can bin immunotherapies into those that  
15 you use in the immunogenic tumors, where you're  
16 really just trying to amplify something that  
17 already exists, or you can say I'm going to be a  
18 synthetic biologist. I'm going to create something  
19 that would hijack the immune system to make an  
20 immune response when it otherwise wouldn't.

21 I think a good example of that type of  
22 synthetic immunotherapy are chimeric antigen

1 receptors, which many of you have probably already  
2 seen. They basically incorporate some kind of  
3 antigen specificity usually from an antibody, and  
4 allows the T cell then to recognize a cell surface  
5 molecule. Once that's recognized, the T fires  
6 because of the signaling domain that is integrated  
7 into the CAR.

8           When CAR T cells were being first tested,  
9 many of us thought they were going to be too  
10 complicated, and I'll get into that, but clearly  
11 there's been a watershed moment in this field, and  
12 remarkably with pediatrics at the front and center.  
13 The approval of Kymriah for pediatric B-cell ALL  
14 was the first FDA-approved cell therapy for the  
15 treatment of cancer and the first gene therapy  
16 approved in the U.S. It had this unusual  
17 developmental path of being approved in children  
18 before it was approved in adults.

19           We still don't have a CD19 CAR approved for  
20 adults with leukemia, which is the first time the  
21 adults have found themselves with this arbitrary  
22 cutoff based on age, and maybe that will cause some

1 changes in -- I think we could probably get this  
2 done. It would be really, really important. Of  
3 course, we have adults that need it and don't have  
4 access. These arbitrary designations around age  
5 provide real barriers; and first therapy with an  
6 outcome-based payment model. There was also I  
7 think a very robust acceptance within the community  
8 to try to figure out how to do this, use  
9 CAR T cells earlier in therapy, so there will be a  
10 study ongoing.

11 I want to point out that, really, the  
12 approval of CD19 CAR has caused a watershed, a  
13 true watershed, in this business around cell and  
14 gene therapy, and I think it's going to continue to  
15 impact pediatric oncology in very meaningful ways.  
16 Before CD19 CAR was approved, what I would hear is  
17 crystal cell therapy will never work.

18 Even if it did, how would you commercialize  
19 it? Bispecific antibodies do the same thing. Why  
20 do you need cells? Autologous products from cancer  
21 patients? That's not going to work. They're too  
22 immunosuppressed. It's going to take too long to

1 make the product. These are aggressive diseases  
2 and it's too expensive.

3 Today what I hear is it's too expensive, and  
4 I think it remains a major issue. How can we scale  
5 up products for all patients who need it? This is  
6 especially with regard to the lymphoma story and  
7 adults, and could we get an off the shelf? Could  
8 it really work for solid tumors?

9 How good are the CD19 CARs? Well, it's  
10 great to see that about half of the patients who  
11 receive these, their tumors develop resistance to  
12 all of our standard agents for ALL. But while 80  
13 to 90 percent go into remission, it looks like many  
14 of those patients will relapse.

15 We still have major challenges around  
16 manufacturing delays, some toxicity, although  
17 that's getting better. But we're really seeing a  
18 high rate of relapse. Especially in the Novartis  
19 trial, the vast majorities were because of this old  
20 problem of tumor heterogeneity. These tumors can  
21 live without the epitope that the CAR recognizes.  
22 So if you put all of your pressure on one target,

1 guess what? The tumor comes back lacking that  
2 target.

3 It points out the need for additional  
4 targets, and this is the CD22 CAR that was  
5 developed in my laboratory and has been studied by  
6 Nirali Shah at the Pediatric Oncology Branch. This  
7 CAR shows very nice activity. A vast majority of  
8 these patients had already received CD19 CAR, then  
9 developed this CD19 negative mediated resistance,  
10 but went back into remission with the CD22 car.

11 So it tells us a lot about you can make CARs  
12 to other targets that are also quite active; that  
13 intrinsic resistance of the tumor cells probably  
14 are not what's going on; rather it's this target  
15 modulation.

16 It's really great to see this, and this is  
17 the Pediatric Oncology Branch, and the NCI is  
18 trying to get this moved forward and made more  
19 readily available. We'll be opening a study at  
20 Stanford with this because it is an unmet need,  
21 sort of an orphan disease, CD19 negative leukemia.

22 But the truth is most of these remissions

1 have not been durable. It's been a bridge to  
2 transplant, and the relapse now is associated not  
3 with complete loss of the target but simply  
4 selection of cells that express lower levels of the  
5 target. It turns out the CAR T cells need a lot of  
6 target.

7           You can see the difference in activity there  
8 based upon modest differences in target level, 2000  
9 versus 4,000 molecules. It can mean the difference  
10 between being able to control the tumor; there's  
11 your 4,000 molecules on the right and 2000  
12 molecules per cell in the middle. So we've got to  
13 be able to engineer these things when we want to be  
14 able to go after low antigen density.

15           It raises the prospect of rather than  
16 allowing the tumor to become resistant to one agent  
17 and have to come in with another agent, can't we do  
18 them at the same time? So there's a lot of  
19 activity in the CAR T cell space to engineer these  
20 cells to have multi-specificity. It's one of the  
21 reasons people are excited about this class of  
22 agents, is because they are readily engineered to

1 address the problems of resistance that are  
2 observed.

3 Just to show you there, you can do CAR  
4 T cells with a bivalent receptor. You can give two  
5 pots of cells. You can co-express. We don't know  
6 the best way to do it, and you can see this is all  
7 institutions in our St. Baldrick's Stand Up to  
8 Cancer pediatric dream team that are studying this,  
9 and hopefully we'll learn.

10 This is the data from a patient treated at  
11 Stanford with a lymphomatous B-cell ALL treated  
12 with a double CAR. You can see it does have  
13 activity. It's an early-phase trial, so our  
14 primary objectives remain safety and feasibility  
15 with a secondary objective of its response rate.  
16 We do have really significant clinical activity and  
17 acceptable toxicity without 19 negative escapes so  
18 far. We'll see over time, though, whether it  
19 really is able to prevent 19 negative escape. I  
20 think it's way too early to know that.

21 All of this that we're learning about what  
22 happens when you give CAR T cells for childhood

1 leukemia is going to inform how we can make these  
2 therapeutics more functional for solid tumors,  
3 because we know that many of the challenges we're  
4 seeing in leukemia are going to be even greater,  
5 the same problems, but even greater challenges in  
6 solid tumors, especially around heterogeneous  
7 antigen expression, but also intrinsic T cell  
8 dysfunction and the suppressive microenvironment  
9 and the poor trafficking.

10 So again, our St. Baldrick's Stand Up to  
11 Cancer team has been very active in defining  
12 targets for solid tumors, and this gets to our  
13 discussion today. Of course, we all know that GD2  
14 is a target on neuroblastoma. Not all of us  
15 recognize, but indeed the data demonstrates it's  
16 also expressed consistently on osteosarcoma, albeit  
17 at lower levels, but we're hoping that it's  
18 targetable for that disease.

19 Then we recently discovered with Michelle  
20 Monje at Stanford that this is very highly  
21 expressed on diffuse intrinsic pontine glioma.  
22 It's quite remarkable that it's 2019, and we're

1       only learning this. To me, it is really indicative  
2       of how little we know about the cell surface of  
3       pediatric tumors, especially solid tumors. Our  
4       tumor biologists often tell us about mutations and  
5       oncogenes, but this is not something that they are  
6       routinely cataloging.

7               Given how many antibody derivatives there  
8       are for therapy today, understanding what the cell  
9       surfaceome looks like and how it's modulated with  
10      therapies is really, really valuable for developing  
11      immunotherapeutics.

12             GPC2, another target that you'll hear from  
13      Kris today, came out of John Maris' and Kris's  
14      group, a discovery that this is highly  
15      differentially expressed on neuroblastoma,  
16      medulloblastoma, and several other cancers,  
17      including adult cancers, that really can be an  
18      entirely new target because they mine the  
19      surfaceome.

20             PAPPA, this is, Greg, a new one for your  
21      list. I don't see it on the list. We published on  
22      this earlier this year. This is highly

1 differentially expressed. In Ewing sarcoma, it  
2 basically cleaves IGF1 from its binding protein and  
3 ensures that Ewing sarcoma has a ready supply of  
4 IGF1, and it's expressed then on the cell surface,  
5 and we're hoping that we can target it with CARs.  
6 We're still working on it.

7 B7H3, this is another molecule that is very  
8 highly overexpressed on pediatric tumors compared  
9 to normal tissue, recently published, and we have  
10 some exciting data in ATRT coming soon, I hope.

11 Here's the DIPG data. Again, we all know  
12 it's a terrible disease. It highly differentially  
13 expresses GD2. Therefore, when you use the GD2  
14 CAR, even though it's in the brain, systemic  
15 administration, at least in xenograft models, is  
16 enough to clear those established tumors.

17 We do have some deaths in these animals that  
18 were quite sobering due to tumor swelling and  
19 hydrocephalus, but we do not believe that the GD2  
20 CAR is targeting the neural tissue. Here is some  
21 evidence of the normal histology in these mice, and  
22 of course, GD2 is the same in mice and humans after

1 clearance of the tumor. So even though we know  
2 there's low levels of GD2 on the brain, CARs appear  
3 to be able to thread a therapeutic window because  
4 of this requirement for high antigen density.

5 We are, hopefully in the next couple months  
6 here, going to get our clinical trial of GD2 CAR  
7 T cells open for DIPG. We're going to have to  
8 treat patients before recurrence because the  
9 post-progression survival is so short. We're  
10 incorporating a suicide domain into the CAR in case  
11 we do need to suicide these cells, and we're using  
12 other approaches. We hope to deal with what may  
13 happen, the increased intracranial pressure, so  
14 that patients Ommaya reservoirs and very close  
15 monitoring.

16 Here's some of the data using a CAR  
17 targeting B7H3, getting regression of osteosarcoma  
18 xenografts, Ewing sarcoma xenografts, and  
19 medulloblastoma.

20 I think, to summarize, the surfaceome of  
21 human cancer is incompletely cataloged. Some solid  
22 tumors express really high levels of surface

1 antigens that would be amenable to a whole array of  
2 antibody-derived therapeutics, including CARs, and  
3 these deserve prioritization for clinical trials in  
4 solid tumors.

5           The other point to make, it should be  
6 obvious, but I think we just haven't talked about  
7 it enough that people understand this thing isn't  
8 random. Tumor biology, just like the oncogenes,  
9 drive the signaling pathways of tumors. They also  
10 drive the surface phenotype. We've talked about  
11 the GD2 ganglioside being overexpressed in DIPG,  
12 and of course we know on other solid tumors. But  
13 we think that there are plenty more that can be  
14 found if we only look for them.

15           Just to kind of make the point, there are a  
16 lot of CARs out there. That list right there is my  
17 list of clinical trials that are open now or soon  
18 to open. The vast majority, many of these, are  
19 highly expressed in pediatrics. So this is an area  
20 where I think we are positioned to hopefully make  
21 some gains for our refractory pediatric tumors.

22           But it's not just about new targets. It's

1 also about new ways of making CARs. You can go  
2 through all of this, but there's a lot of different  
3 platforms that we think can be used to make these  
4 CARs more effective against the solid tumors, using  
5 instead of T cells and K cells, et cetera.

6 I also just want to, in speaking about the  
7 CAR T cells, make a point about -- there are all  
8 the scientific issues that remain to be addressed,  
9 and that's what our team and many of the  
10 investigators across Europe and North America are  
11 working on to make cars work better, especially in  
12 solid tumors. But we also have a lot of challenges  
13 around manufacturing, high cost, and limited  
14 availability.

15 Despite these challenges, the field is  
16 really booming. Cell therapy now represents the  
17 largest number of agents under study in the immune  
18 oncology space. This is from a report in Nature  
19 Reviews Drug Discovery. They're saying that there  
20 are more than a thousand active agents in global  
21 cell therapy, in the pipeline. There are 130 CD19  
22 CARs under study. This is sort of like we were

1 with PD-1 a while ago. I don't think we need 130  
2 CT19 CARs under study, but we do need more trials  
3 in solid tumors, and about half of the trials are  
4 in solid tumors.

5 The FDA is anticipating that this phase of  
6 growth is going to continue to increase. They  
7 anticipate by 2025, there will be approving 10 to  
8 20 cell and gene therapy products per year. These  
9 are the companies in the space. And that's  
10 incomplete, but just to show you how many of the  
11 private sector are investing.

12 I want to make this point about  
13 manufacturing of CAR T cells because I think it's  
14 really important, especially for pediatrics. We  
15 all are hopeful that there may someday be an  
16 off-the-shelf version, hundreds or thousands of  
17 products from a super donor. But we still have to  
18 be able to engineer it to not cause GVH and not be  
19 rejected. It will drive the price down if that  
20 happens, but we aren't there yet. I think time  
21 will tell. There's a lot of investment. It likely  
22 will happen, but it's not going to be in the next

1 five years, I don't think.

2 Centralized manufacturing is the current way  
3 that we're getting CAR T cells. We send the  
4 apheresis to Morris Plains in New Jersey. Novartis  
5 makes the CAR; it comes back, and you have to pay  
6 \$475,000 for this product. This is very costly.  
7 There's is immense pressure to bring the cost down,  
8 and we are concerned that for the rare indications  
9 that pediatrics is, this costly approach is not  
10 likely to be a driver for any kind of a margin.

11 There's also the idea of distributed  
12 manufacturing. Here, hospitals would manufacturer  
13 individualized products using automated platforms.  
14 The regulatory approval would apply to the  
15 construct being used and the process being used to  
16 generate it. You can think of it sort of like bone  
17 marrow transplantation, which is, of course, the  
18 most effective immunotherapy we have to date, and  
19 large academic medical centers deliver bone marrow  
20 transplantation.

21 So thinking about using CAR T cells for rare  
22 indications, which would particular be pediatrics,

1 having our large academic medical centers be able  
2 to produce these for our children I think is really  
3 important if we're going to be able to administer  
4 these and really reap the benefits of the science  
5 that's being done. There are a lot of automated  
6 platforms; here are some of them, the Miltenyi  
7 Prodigy, the Lonza Cocoon. All of this is really  
8 quite automated and could be done in major academic  
9 medical centers.

10 CAR T cells have made their debut. In  
11 pediatric oncology, they've driven a paradigm shift  
12 in the field of cancer cell therapy and gene  
13 therapy. There are a lot of challenges that  
14 remain, both scientific and practical, but the  
15 science and the emerging technology is very robust.

16 The FDA, academic medical centers, and  
17 private sector are betting that the field is going  
18 to grow dramatically in the next 5 to 10 years. We  
19 believe that a technological developments will  
20 drive down cost, and children with cancer, all  
21 bets, all indications are that these children's  
22 cancers are developmental aberrancies, and as such,

1 they look different on the cell surface than  
2 postnatal tumors.

3 So we think that there are a lot of lineage  
4 targets, as Greg referred to them, that we could be  
5 using in the context of CAR T's or other  
6 antibody-derived therapeutics. There are some of  
7 the acknowledgements. I did want to acknowledge  
8 Robbie Majzner who has done that exciting work with  
9 CD47, and I'll stop there. Thanks.

10 (Applause.)

11 **Clarifying Questions**

12 DR. PAPPO: Thank you very much,  
13 Dr. Mackall.

14 We will now take clarifying questions for  
15 Dr. Mackall. Please remember to state your name  
16 for the record before you speak, and we only have  
17 about five minutes for questions, so go ahead.  
18 State your name please.

19 DR. DUNKEL: Ira Dunkel, Memorial Sloan  
20 Kettering. Thank you for the excellent talk.  
21 Coming from the brain tumor perspective, can you  
22 comment on what we know or what your thoughts are

1 about where the CAR cells should be delivered for  
2 brain tumors; intravenous, intrathecal,  
3 intratumoral?

4 DR. MACKALL: Yes. We're doing some pretty  
5 focused efforts on that currently, as are several  
6 other groups. Trafficking is a problem for T cells  
7 into solid tumors, whether they be in the brain or  
8 they be anywhere else. In fact, I think one of the  
9 reasons leukemia is so responsive is T cells get  
10 into the marrow quite well.

11 One of the things we've learned is that,  
12 actually, they get into the brain pretty well. I  
13 showed you that data with DIPG, systemic  
14 administration, even into the brain stem. However,  
15 if you compared administration systemically to  
16 administration intraventricularly, or  
17 intratumorally, you need about a log less cells to  
18 get clearance if you do the regional delivery.

19 Furthermore, we've learned that they get in  
20 there more quickly. There are less inflammatory  
21 cytokines when they're delivered regionally, and  
22 the CSF cytokines are similar. Remarkably, they

1 also traffic out of the brain after you deliver  
2 them there, so you don't seem to pay a price on  
3 persistence.

4 So this is an area of very immense research,  
5 and I think you'll see some publications come out  
6 over the next year. The City of Hope made the  
7 initial observation, and I think all of us are  
8 really seeing the same thing.

9 While we're going to start with a DIPG with  
10 systemic administration, we're also going to have  
11 an adult GBM trial on the heels of that, where we  
12 administer intracerebro ventricularly. I think  
13 that Seattle is already doing that with, I believe,  
14 the HER2 CAR in brain tumors, so we're going to see  
15 more of that.

16 DR. DuBOIS: Steve DuBois, Dana-Farber,  
17 Boston Children's. Thanks so much, Crystal. I  
18 really appreciate the content. A couple of  
19 questions; one, I didn't see PAPPa on your list of  
20 coming soon CAR T cell trials. Is that because of  
21 the rarity of the disease, that it seems to be just  
22 maybe Ewing specific? Are there technical

1 challenges in targeting that? What are your  
2 thoughts on why that's not on the coming soon list?

3 DR. MACKALL: We just haven't had a CAR to  
4 work yet. We're still working on it. I mean, it  
5 takes a year and a half or so to get a CAR at work.  
6 Hopefully, we'll figure it out, but it's just on  
7 the list of to-do's. But there are antibodies, and  
8 there may be ADCs, and other ways to target PAPPA,  
9 too.

10 DR. DuBOIS: Great. Then there is some data  
11 from Medical Oncology about TIL content as a  
12 potential predictor of response to immune  
13 checkpoint inhibition. Are there particular  
14 pediatric tumors that are characterized as having  
15 high TIL burden?

16 DR. MACKALL: You know, infiltrating  
17 lymphocytes has been a really challenging biomarker  
18 because, indeed, in melanoma, infiltrating CD8s is  
19 one of the markers of response.

20 When you look at kids' tumors, mostly  
21 they're full of macrophages and myeloid cells; why  
22 we're so interested in the 47 story. There are

1 lymphocytes in there, but it's still  
2 quite -- compared to a melanoma, it's much lower.  
3 So they are cold tumors. Even though there's some  
4 lymphocytes in there, they're cold. Among the  
5 tumors, Robbie Majzner and John Maris and I  
6 published a cancer paper; you can look at it. We  
7 looked at 500 samples, and maybe glioblastoma had a  
8 little more, but it was all still in that cold  
9 space.

10           Interesting, there's a paper that is going  
11 to be coming out soon about rhabdoid, where maybe  
12 rhabdoid has kind of more cells in there than you'd  
13 think. It's so funny because it's such a low  
14 mutational burden. So there may still be some  
15 principles to learn. But in general, all the  
16 pediatric tumors are cold as far as I understand,  
17 except Hodgkin's

18           DR. PAPP0: Alberto Pappo. I had two very  
19 quick questions. How predictive are the  
20 preclinical models where you're studying currently  
21 CARs, since they do not have an intact immune  
22 system?

1 DR. MACKALL: Yes, a couple things to say  
2 about that. I don't know who says it, all models  
3 are bad; maybe Bill Weiss. All models are bad.  
4 This is where we have to start. There is no  
5 perfect model. For evaluating a CAR T cell, what  
6 you want to know is can it find the tumor? Can it  
7 proliferate? Can it kill the tumor?

8 In our hands, and I think the field  
9 generally agrees, that xenografts have been very  
10 useful. They're a step beyond what you can do  
11 in vitro for looking at the fitness of the T cell.  
12 As far as no immune system there, they do have the  
13 myeloid compartment, and we've shown that the  
14 myeloid cells do suppress the T cells.

15 The NSG mouse is myeloid replete. Okay.  
16 But when you're talking about checkpoints, no,  
17 there's no way. One of the concerns is the PPTP  
18 program, especially, is all xenografts. There are  
19 no fully murine models yet that I'm aware of in  
20 that program. So you really can't model the  
21 checkpoint combinations without the fully murine  
22 models.

1           Furthermore, if you model them with a tumor  
2 that doesn't reflect -- for instance, we did this  
3 once with a rhabdo, M39M, you can look it up, and  
4 we saw checkpoint responses with a rhabdo, and we  
5 looked at a combination and demonstrated it. But  
6 it turns out M39M expresses the male antigen, and  
7 we did all this in female mice. In alveolar  
8 rhabdo, you don't have that male antigen.

9           So you've got to be very careful that the  
10 antigens you're talking about are reflective of  
11 what the antigen load is like in humans. I think  
12 we're starting to get there as people get more  
13 sophisticated, but we've got to be very careful  
14 about modeling the checkpoint combinations.

15           DR. PAPPO: I had another very quick  
16 question. Given the gloomy data with checkpoint  
17 inhibitors in pediatrics, one would think that they  
18 are dead. Do you think that there is any role in  
19 trying to modify the epigenetic landscape of  
20 T cells to try to see if we can boost the immune  
21 response of those T cells already exhausted, or you  
22 think that it's not worth proceeding with those

1 clinical trials?

2 DR. MACKALL: Well, that's a big question.  
3 That's a big question. The epigenetic landscape,  
4 we're really trying to modulate that in the CAR  
5 T's, because we agree exhaustion is a problem  
6 there. I don't know if, quote/unquote,  
7 "exhaustion" is what's limiting responses in our  
8 pediatric tumors to checkpoint; I think there just  
9 aren't enough antigens. So what we need is  
10 probably a combination of innate immune activation  
11 and the checkpoint inhibition in the right  
12 cocktail, in the right tumor, in the right patient.

13 So I think it's more we have to stimulate  
14 more, and it's not necessarily an exhaustion  
15 problem, but we could talk about that for a long  
16 time.

17 DR. PAPPO: Thank you.

18 DR. BOLLARD: Crystal, that was an  
19 outstanding overview of the field, and in  
20 particular of the CAR T cells. I'm being a little  
21 bit provocative. I'm not sure if you heard Steve  
22 Rosenberg's comment at ASGCT this year, but he made

1 the very bold statement that he could not see how  
2 CAR T cells would make a great impact for solid  
3 tumors, so I would like to hear your opinion about  
4 that.

5 Then, really speaking to what the previous  
6 two members spoke to, there are other T cells in  
7 the adaptive response to intracellular  
8 tumor-associated antigens. Can you see a way where  
9 they could be also beyond checkpoint inhibitors  
10 enhanced and partnered with a CAR T cell approach?

11 DR. MACKALL: Yes. Let me take that in two  
12 parts. Steve and I have had several spirited  
13 discussions about that. I think we have to look at  
14 the data, and the data pretty clearly shows that  
15 CAR T cells require high antigen density.  
16 Therefore, when we see differential expression of  
17 cell surface molecules and we see in preclinical  
18 models where there is mouse expression of the  
19 target but no evidence for toxicity, we just have  
20 to look at the data.

21 That said, there's no substitute for a  
22 clinical trial, so time will tell. But predicting

1 the future in general is something that we maybe  
2 shouldn't do.

3 The other T-cell issues, absolutely.  
4 Engineered TCRs, they really can be very potent.  
5 I've been a leader in developing the New York ESO-1  
6 TCR in synovial sarcoma, which hopefully GSK is  
7 going to really go after approval now. We've got  
8 very meaningful long-term responses in half of the  
9 patients treated, and that's just fantastic.

10 The problem is we had to screen, for that  
11 trial that we published, about 110 patients to  
12 treat 12. So the problem is we don't have a T-cell  
13 receptor for every HLA allele. I wish the  
14 companies to just make us more T-cell receptors so  
15 we have them for patients. It's just very  
16 difficult to develop a therapy for a pediatric rare  
17 tumor, and then you make it rarer by saying it's  
18 only going to be a third of that rare.

19 TILs, I don't know. We don't have a lot of  
20 TILs in there. I think NK cells, definitely.  
21 Let's see whether the NK cells are going to make a  
22 hit. There's some investment now. So I certainly

1 don't want to predict the future. Let's go by the  
2 data, and there's some exciting data out there on  
3 NKs for sure.

4 DR. PAPPO: We have time for two more  
5 questions. It's going to be Greg and Anne, and  
6 then the other questions we can come back at the  
7 end of Dr. Bosse's talk.

8 DR. REAMAN: Crystal, I just want to also  
9 echo, this was fantastic, great overview. And I  
10 was pleased to hear that the CAR T approach sort of  
11 supersedes TILs, at least in the pediatric space.

12 Two questions; the combinations, I think  
13 you've definitely warned us about combinations of  
14 checkpoint inhibitors. But just following up on  
15 the epigenetic manipulation, what about other  
16 opportunities to make cold tumors hot and  
17 sequencing low-dose radiation or cytotoxic  
18 chemotherapy followed by checkpoint inhibition?

19 DR. MACKALL: Yes, that is what we need to  
20 do. The whole immuno-oncology world, adult,  
21 they're trying to figure out how to do that in  
22 diseases like pancreatic. There's a trial opening

1 in the Parker Institute to make just cold tumors  
2 hot, looking at combinations.

3 I think there, we want to kind of follow on  
4 their heels. We want to watch that data like a  
5 hawk. And when you see a signal, then I think  
6 pediatrics should jump in. But to an extent, we  
7 have to let them sift through the dozens of  
8 combinations to find the winner or get some good  
9 models.

10 DR. REAMAN: I think watching like a hawk is  
11 good advice, and we don't want to jump in because  
12 we can't jump in, because we don't have the numbers  
13 of patients that will allow us to evaluate every  
14 combination. But I think there may be some unique  
15 pediatric specific combinations that we ought to  
16 really be thinking about.

17 DR. MACKALL: Yes. Certainly, the  
18 dinutuximab, for instance, leveraging that, some of  
19 that preclinical data looks exciting. I agree.

20 DR. REAMAN: Just one other quick question.  
21 Based on the cell surfaceome and findings, what  
22 guides the decision to develop a CARs versus ADCs,

1 versus naked [ph] antibody approaches, other than  
2 the specific expertise and interest of  
3 investigators?

4 DR. MACKALL: Yes. Obviously, you ask 10  
5 different people, you'll get different answers.  
6 For me, I think that when it comes to CNS, the CARs  
7 have a major advantage because although we've all  
8 been told it's a sanctuary site, the truth is those  
9 CAR T cells get in there. The CD19 CAR get in, and  
10 the preclinical models, and we have the opportunity  
11 for regional administration.

12 So there, I think antibodies don't cross  
13 well in ADCs.  
14 Small fragments may cross, but I'm just concerned  
15 that will always be a problem. But for peripheral  
16 solid tumors, I think that in many ways,  
17 bispecifics in the ADCs, they're a lot easier to  
18 develop and administer. So unless you have a good  
19 reason to use a CAR, maybe you need that  
20 persistence. Then maybe you say you take the  
21 simpler therapeutic first.

22 DR. PAPPO: Sorry. We're going to have to

1 move on, but I promise you, we'll try to get your  
2 questions.

3 It's my understanding that Dr. Shah is here.  
4 I would ask for her to please join us at the table  
5 and introduce herself before we proceed with the  
6 next speaker.

7 DR. SHAH: Nirali Shah, NCI.

8 DR. PAPPO: Thank you. We will now proceed  
9 with our next guest speaker presentation, Dr. Kris  
10 Bosse.

11 **Guest Speaker Presentation - Kris Bosse**

12 DR. BOSSE: Let me say thank you for giving  
13 me the opportunity to talk here. It's a hard act  
14 to follow. I kind of wish I could go first before  
15 Crystal, but I'll do my best. I'm going to give  
16 you a little more of a ground view of antigen  
17 discovery and what we've been doing at CHOP.  
18 Thankfully, Crystal introduced a lot of the  
19 introductory slides.

20 I'll first start with saying this is very  
21 much a team effort. This is some of our team at  
22 CHOP with our leader in the middle there, Dr.

1 Maris, who couldn't be here today. A lot of this  
2 work is done by members of my lab but also graduate  
3 students doing some work, and Amber you can see in  
4 the middle there pointed out. I'll have the  
5 opportunity to talk a little bit about their work.

6 The other point that Crystal made, which I  
7 think is a really important one for this type of  
8 work, is this, I think, can only really be done  
9 well with a large collaboration, and for us, it's  
10 now a 10-institution collaboration across the  
11 United States and Canada, and I think it allows us  
12 to do this work both from an antigen discovery  
13 standpoint, all the way to developing several  
14 different types of therapies.

15 The question about what therapy is the best,  
16 I'm not entirely sure we know. This gives us the  
17 opportunity to really take a target and make  
18 several different therapies in parallel, just given  
19 the wide expertise.

20 This is how I sort of envision how the cell  
21 surface landscape has changed since the invention  
22 of this team, almost a decade ago now. This is not

1 a complete list, and hopefully most of these  
2 targets are on the target list. In the blue are  
3 those targets that we've known about for a while,  
4 and the red are an incomplete list of some of the  
5 newer targets that we're working on in.

6 The circle targets are the ones, if I have a  
7 chance, to focus on today and what we're working on  
8 at CHOP; specifically just the neuroblastoma. What  
9 I show you today in neuroblastoma I think can  
10 really be applied across any different histotype.

11 I think the group here understands this  
12 well, the challenges, and we've made great advances  
13 with chemoradiotherapies in pediatric cancers, but  
14 there's much work to be done. Many of our  
15 cancer -- or almost all of our cancer survivors  
16 have really lifelong and life-altering morbidities.  
17 Relapsed cancers, for the most part, are incurable.  
18 We've changed the landscape a bit in ALL with CAR  
19 T cells, but neuroblastoma here in the bottom  
20 right, you can see is pretty much a death sentence  
21 when kids relapse.

22 Crystal introduced these data.

1 Immunotherapies have now been credentialed for  
2 pediatric cancers, both with CD19 targeted  
3 CAR T cells and dinutuximab or GD2 chimeric  
4 antibody for kids in neuroblastoma. Kids given the  
5 therapy up front is part of maintenance, but now  
6 it's actually become a very important therapy at  
7 the time of first relapse in neuroblastoma.

8 I think across our team, we feel very  
9 strongly that -- and this is, I think, why John and  
10 Crystal started this team 10 years ago -- there  
11 needs to be a focused pediatric immunotherapy  
12 effort and can't just simply be a trickle down from  
13 adult cancers.

14 I list some of the reasons here. But  
15 they're simply different cancers. A high-grade  
16 glioma in an adult is very different than a  
17 high-grade glioma in a child. Much of that is that  
18 there's a surfaceome. There's a different  
19 drill [indiscernible] into origin. There are  
20 different rotational/mutational burdens, as Crystal  
21 alluded to.

22 I think across both of these, there are

1 simply a lack of targets. I think, as Crystal  
2 alluded to, we're just beginning to understand the  
3 surfaceome. And it is a bit crazy to think that we  
4 didn't know GD2 was on DIPGs until very recently in  
5 that work that came out of Stanford. So I think we  
6 do need to do a deep dive, and I'll show you a very  
7 surface view of that today, but there's much work  
8 to be done.

9           The disease that I'm going to focus on for  
10 the rest of this talk, and I think doesn't need  
11 much of an introduction here, is neuroblastoma.  
12 The important part here is it's an embryonal  
13 cancer, so it's really a misappropriation of neural  
14 sympathetic nervous system development and of  
15 course occurs in young kids.

16           If you look at the diagram on the right,  
17 this is a complicated diagram just to say that the  
18 transcriptional program and the cell surface  
19 molecules that persist on these developing cells,  
20 and then our lost in postnatal and matures in  
21 sympathetic nervous tissues, actually persist then  
22 in neuroblastomas. So much of these lineage

1 restricted molecules or transcriptional programs  
2 are important in neuroblastoma and tumorigenesis,  
3 and many of these are good therapeutic targets,  
4 including immunotherapeutic targets.

5 This is the landscape of neuroblastoma in  
6 terms of phenotyping. As many of you know, some  
7 kids do very well with very limited therapy. The  
8 children we're talking about here are those with  
9 high-risk disease. They get two years of therapy,  
10 and again, we're only able to cure about 40 to  
11 50 percent of them.

12 With all these challenges, we decided as  
13 part of the Stand Up to Cancer team, a few years  
14 ago when I was a post doc in John's lab, to take a  
15 very simple approach. Look at RNA sequencing data  
16 or RNA ray [ph] data -- now it's primarily  
17 sequencing data, and just compare tumors and normal  
18 tissues, and ask a very simple question, what's  
19 expressed in tumors? In a panel of normal tissues,  
20 there's a lot of good, normal tissue and RNA  
21 sequencing data available.

22 Then you can computationally predict what's

1 supposed to be on the surface. It's not perfect.  
2 There are different algorithms, many of which are  
3 imperfect for different reasons; then really to  
4 take a deep dive into that list of genes that comes  
5 out of that, find those that are lineage specific,  
6 study those genes in the lab, and really determine  
7 which are important in tumorigenesis, with the idea  
8 that, like the CAR T cell story, if you really  
9 choose to target a molecule that is important in  
10 tumorigenesis, the tumor will be less likely to be  
11 able to downregulate in that, and we'll see if that  
12 ends up being true; and then with a goal to find  
13 good targets that then, across our team, we can  
14 target with many different types of  
15 immunotherapies.

16 This has been published almost two years  
17 ago, and this is what the data looked like. Out of  
18 this algorithm, again, we looked at differential  
19 expression, predicted what's on the surface, and  
20 those genes highly expressed. We decided to work  
21 on this gene, glypican 2 or GPC2, and you can see  
22 the RNA sequencing data on the right. It's very

1 high in tumors and very limited tissue and  
2 expression. These are now adult normal RNA  
3 sequencing data. There is not a children  
4 equivalent of this yet.

5 This is a molecule that sits on the cell  
6 surface. It's thought to act as a signaling  
7 co-receptor. Very little is known about the  
8 biology, but we're also focusing on that in the  
9 lab. We're genomicists, hard molecular biologists,  
10 so we think a lot about where they sit in the  
11 genome and if they sit at areas of high copy number  
12 gain, which this does.

13 For us, although not a trickle down from  
14 adult oncology, it was reassuring that GPC, through  
15 a sister molecule, GPC2, was being developed as a  
16 immunotherapeutic target in several adult liver  
17 cancers, and actually pediatric liver cancers, too.

18 I'll go through this quickly. This has been  
19 published. We spent a long time thinking about how  
20 GPC2 behaves in neuroblastoma and the importance.  
21 And it ends up being that's a very important gene  
22 for cell growth. We don't know, again, what the

1 pathway's involved in yet, but if you deplete it,  
2 the cells die very quickly. If you overexpress it,  
3 the cells grow much better. It seems to be  
4 involved in some pathway, and it may be a win  
5 pathway or other pathways, but we just haven't  
6 figured that out yet.

7           Importantly, why these genes differentially  
8 express, why does GPC2 continue to be expressed in  
9 neuroblastomas and lost on all postnatal  
10 sympathetic nervous tissues, in part because it's  
11 driven by MYCN, so MYCN's amplified in about half  
12 high-risk neuroblastomas. It's a really important  
13 oncogene and high DNA copies.

14           You can see in some of this RNA sequencing  
15 data on the left that either tumors that have gain  
16 of this chromosome or make an amplification have  
17 much higher levels. We did some ChIP sequencing  
18 data to show that MYCN does bind the GPC2 promoter  
19 at [indiscernible] box. There are various things  
20 in the lab where you can knock down MYCN, and GPC2  
21 gets downregulated and parallels.

22           In part, the transcriptional program in the

1 developing neuroblast persists in neuroblastomas  
2 and continues to drive expression of these lineage  
3 specific, in this case a cell surface gene that  
4 remains very differentially expressed.

5           Some newer data -- and this hopefully will  
6 come out soon -- is we spent a long time looking  
7 at -- these are patient-derived xenografts grown in  
8 immunocompromised mice. They have this interesting  
9 bimodal expression of GPC2, and it ends up  
10 being -- we think, and we have yet to fully prove  
11 this -- that these ultra-high GPC2 cells are have  
12 co-expression of other neuronal stem-cell markers,  
13 really getting at the biology of GPC2 being  
14 important in neuronal development, but then again  
15 lost in postnatal tissues.

16           I alluded to this at the beginning, that  
17 what's really great about this team is that we have  
18 people who are experts in binder discovery now at  
19 the University of Pittsburgh. They're able to work  
20 with the biologists and find specific binders that  
21 bind GPC2 or whatever, PAPP, or whatever other  
22 protein we're interested in. Then we can quickly

1 make, really, any type of immunotherapy from those  
2 binders.

3           This is showing some of the published data.  
4 Here on the left, they were able to find this  
5 arbitrarily called D3 binder. It binds very  
6 specifically to GPC2. Again, getting to why we  
7 chose what therapy, this is sort of an arbitrary  
8 choice. It ended up being I think a good one, but  
9 we decided to make this antibody drug conjugate  
10 where we conjugated this antibody to these very  
11 potent DNA intercalators PBD dimers.

12           I won't go into too much of that data, but  
13 the in vitro data is very potent. The IC50s are in  
14 the single-digit picomolar value. Our in vivo data  
15 looks like this. You can give just one dose of ADC  
16 at the beginning of a study enrollment, and that  
17 completely ablates tumors. This is true across a  
18 number of genomically diverse PDXs.

19           The nice thing about this binder, which I  
20 will not show you the data for, it binds mouse  
21 GPC2. These mice are very healthy. They have no  
22 really clinical signs of any toxicities. We're

1 hoping to move this type of therapy to the clinic  
2 soon.

3           To get at the potency of this, we've treated  
4 some very large locally advanced tumors. You can  
5 see in the red lines there, you're able to ablate  
6 these tumors very robustly also, again, just with a  
7 few doses of ADC. And as expected, the ADC causes  
8 a lot of DNA damage and apoptosis. I won't show  
9 the data, but it actually also induces some  
10 immunogenicity, so thinking about combining these  
11 types of therapies with immune checkpoint blockades  
12 is actually a very interesting one.

13           Some newer data via collaboration from our  
14 Stand Up to Cancer team, we've been able to take  
15 this binder and fully crystallize it with GPC2. So  
16 now we know specific epitopes where this binds,  
17 which I think will give us a lot of flexibility as  
18 we make immunotherapies targeting GPC2. You can  
19 see on the left a diagram of that, and on the  
20 right, the epitopes are colored in green. GPC2 has  
21 folded, so it ends up being a conformational  
22 epitope where it binds amino acids in the

1 N-terminus and the C-terminus of GPC2.

2 That's potentially very important because  
3 what I didn't tell you up front was not only do we  
4 think GPC2 is very differentially expressed, but we  
5 think there's a tumor-specific isoform. If you can  
6 see GPC2-1 there, that's a tumor-specific isoform,  
7 and GPC2-3 is the isoform that's found in most  
8 normal tissues and terminally truncated. The  
9 binding surface area is the part where you can see  
10 where the antibody binds GPC2. So it binds a large  
11 number of epitopes that are only found in the  
12 tumor.

13 As Crystal alluded to, we've spent a lot of  
14 time thinking beyond neuroblastoma and where else  
15 can we use these type of therapies in not only  
16 pediatric cancers but also adult cancers. This is  
17 just some data from the Cancer Cell Line  
18 Encyclopedia, where you can look at RNA sequencing  
19 across a number of different cancer cell lines, and  
20 neuroblastoma is also very high on the left.

21 One of the other things that popped out of  
22 this was small cell lung cancer. Again, getting at

1 lineage-restricted antigens, small cell lung cancer  
2 is neuroendocrine derived, very similar to  
3 neuroblastoma. So it's not very surprising that  
4 they have a very similar cell surface landscape as  
5 neuroblastomas. NCAM is an antigen. We spent some  
6 time on neuroblastomas, on small cell lung  
7 cancers. DL3 is another antigen on both tumors.  
8 We do see a lot of parallels between similar  
9 lineage-derived cancers across both pediatric and  
10 adult histotypes.

11 My lab has become a lung cancer lab, which  
12 is interesting at a pediatric institution, but  
13 we've spent some time really validating these  
14 findings in lung cancers, and this is just a  
15 xenograft we made. It's actually a MYCN amplified  
16 small cell lung cancer. You can see a very  
17 similar -- when you take these cells and put them  
18 in vivo, you get a rising of the stem cell  
19 population, which is interesting, but then you also  
20 see very similar potency to ABC in vivo for, again,  
21 some small cell lung cancer with GPC2 expression.

22 This has been a fun road with this project.

1 We're at the point now where we're trying to move  
2 some of these therapies to the clinic, and then  
3 also optimize some additional therapies. This is a  
4 molecule -- I think in large part, because of our  
5 team, we've actually taken an approach, and I think  
6 we'll learn a lot from this, is what is the best  
7 therapy. Is it ADCs, or CAR T cells, or both?  
8 We're also making T cell engagers and bispecifics.

9 So I think we'll learn a lot from this  
10 molecule, which may be the ideal type of therapy,  
11 at least in this context.

12 I'm going to shift gears for the end of the  
13 talk, and just talk a little bit about some work  
14 done by Amber Weiner in the lab, again, very  
15 similar but a bit different. She's a graduate  
16 student close to graduating in John Maris' lab.

17 She's taken a very similar discovery  
18 approach to neuroblastoma lineage-restricted  
19 antigens, but she's built a really important step.  
20 That's in the top left, where she's spent a long  
21 time perfecting a sucrose gradient  
22 ultracentrifugation method, where she can

1 specifically isolate cell membrane proteins, and  
2 then throw them on a tandem mass spec and really  
3 identify which membrane proteins are highly  
4 expressed in both neuroblastoma cell lines,  
5 patient-derived xenografts, and tumors.

6 Then she's used the similar validation  
7 scheme that we've developed over the last few  
8 years. This is just a very small snippet of her  
9 data, but this is a panel of 10 patient-derived  
10 xenografts, and you can see from left to right the  
11 abundant protein. Then she's pointed out here some  
12 of the cell surface genes we're interested in, in  
13 neuroblastoma. You can see DLK1 is something that  
14 she decided to work on for a number of reasons I'll  
15 get into; GPC2, L1CAM, NCAM, et cetera.

16 Cell surface molecule has been known in  
17 neuroblastoma for a while, but no one thought about  
18 targeting it until recently. It ends up having  
19 this very differential expression. It's high in  
20 some tumors and nearly absent in others. What  
21 she's figured out is the reason for that is because  
22 there are a subset of neuroblastoma models that are

1 driven by a super enhancer element, DLK1.

2 You can see the super enhancer plots on the  
3 left. There are some models that have it, others  
4 that don't, and that correlates really perfectly  
5 with the expression of DLK1 in these models. On  
6 the right, you can see the H3K27, the subtle [ph]  
7 marks that correspond with that, the super  
8 enhancers.

9 By luck and by being aware of what other  
10 people in the adult world are doing, there's a  
11 company working on a DLK1 targeted antibody drug  
12 conjugate with a similar payload as what I showed  
13 you for GPC2. We've done several in vivo studies  
14 in the lab. You can see the IHC for DLK1 on the  
15 top left, and that correlates nicely with what  
16 you'd expect by RNA. It also correlates nicely  
17 with the response to the ADC. If you have the  
18 antigen, you respond; if you don't, you don't  
19 respond.

20 If you look in the bottom middle, the Felix  
21 PDX, some of those tumors started to come back. We  
22 re-dosed, and they continue to respond. So we

1 don't lose antigen, at least in this sort of dosing  
2 scheme, over time. These tumors continue to be  
3 responsive to the ADC.

4           To finish up -- I apologize; Mark makes some  
5 very complicated slides, so I'll try to give you  
6 the main points of them. Mark Yarmarkovich is  
7 actually now a graduate PhD student in John's lab  
8 and is joining as a post doc to finish up some of  
9 this work. He's done some really, I think,  
10 impressive work in challenging this central dogma I  
11 show here. And as Crystal alluded to, for decades,  
12 neuroblastomas and other pediatric tumors are  
13 thought to be cold tumors, and in partner,  
14 blastoma, the MYCN oncogene, as shown here, is  
15 thought to suppress MHC1 expression. Then, again,  
16 there's limited infiltrate of T cells.

17           Mark sort of ignored this data and said,  
18 well, is it possible to identify which peptides on  
19 neuroblastomas are presented via MHC, and are those  
20 then targetable with either TCRs or CAR T cells?  
21 Again, these are complicated sides, but what he  
22 essentially did was took neuroblastoma models with

1 patient-derived xenografts and primary tumors, and  
2 captured the MHC, alluded the peptides, and  
3 characterized them by mass spec.

4 This is a pipeline developed in Germany that  
5 he brought to the lab. Then he's compared this  
6 with healthy tumor MHC or healthy MHC antigen  
7 peptide databases. He has used a similar algorithm  
8 to really ask the question of all these peptides,  
9 which are interesting, use some gene expression,  
10 differential gene expression, that I showed you  
11 before. He then asked a lot of questions about are  
12 these presented on common or rare HLA alleles; are  
13 they on all tumors I looked at or just a portion?  
14 He then really thought about what types of genes  
15 are these, and does it make sense to target them.

16 What he found is, actually, a lot of the  
17 peptides he ended up prioritizing, and some of  
18 those are listed on the right, are all derived from  
19 lineage-restricted neuroblastoma genes. PHOX2B is  
20 really only expressed in neuroblastoma. It's not  
21 in many normal tissues, but also expressed in the  
22 developing nervous system. So a lot of these genes

1 are fitting that same mold. These are just now  
2 presented via MHC in their interest of other  
3 molecules.

4 This is a complicated graph to say that he's  
5 done the same thing in primary tumors. On the  
6 X-axis are peptides, and on the red are the  
7 percentage of tumors on the top. On the bottom are  
8 the percentage of normal tissues. You can see on  
9 the far right, there are peptides that are  
10 presented both in tumors and normal tissues, but  
11 what he really decided to focus on was on the box  
12 on the left.

13 Those peptides are only presented on a high  
14 number of tumors and not found in any normal  
15 tissues. When he did some genotology [ph]  
16 enrichment analyses, what came out was these are  
17 all genes associated with sympathetic nervous  
18 system development, so it makes a lot of sense.

19 Then our lab and others have really  
20 characterized a core transcriptional regulatory  
21 circuit shown here on the left. These are, again,  
22 nervous system development in neuroblastoma

1 specific transcription factors that drive a number  
2 of genes and important tumorigenesis. What he's  
3 found, with some work from Nate in the lab, is that  
4 many of these genes also drive many of the parent  
5 genes of the peptides he's finding. You can see  
6 the ChIP sequencing data on the right. So again,  
7 these are all driven by this transcriptional  
8 program that is sort of co-opted by the  
9 neuroblastoma tumors.

10 Then he's taken this a little further, and  
11 there's a lot of work to be done here. He's,  
12 again, worked within our Stand Up to Cancer team  
13 and found some binders that bind specifically to  
14 the peptides presented via MHC. There are a lot of  
15 binders that bind just to MHC, which he's had to  
16 remove from this discovery platform.

17 You can see on the right some ELISA data,  
18 where on the top there are some imperfect binders,  
19 where one binds the decoy and the peptide. The  
20 IGFBPL one doesn't bind either very well, but then  
21 A7 and F11, again, arbitrary names, seem to bind  
22 the peptide MHC but not that the decoy A protein.

1           He's taken this a little step further.  
2       Again, this is ongoing work where he's then  
3       engineered, as Crystal showed you, a CAR T cell  
4       using these binders, and is able to get  
5       transduction into those T cells, and they seem to  
6       bind IGFBPL1, which presented via MHC. He can  
7       treat these cells, and in addition, he's able to  
8       kill cells specifically that are IGFBPL1 positive  
9       in the right HLA background. He chose HLA, too,  
10      because it's one of the more common HLA molecules  
11      on people.

12           I'll just end there. I went through that  
13      quickly, so I'm happy to take questions. I think  
14      what we've developed in our platform at CHOP  
15      is -- all very neuroblastoma focus, but any of this  
16      I think can be moved across different histotypes,  
17      and really focusing on, postnatally express cell  
18      surface molecules, peptides presented via MHC, and  
19      they all seem to be genes that are important both  
20      in neuroblastoma development and in neuronal  
21      development.

22           I think the charge now is to move some of

1 these to clinical development with carefully done  
2 trials, where we can learn a lot about correlative  
3 biology. I personally think -- and my lab will  
4 focus on this for the next few years -- we're just  
5 scratching the surface, even just in neuroblastoma,  
6 and we haven't done these analyses across a number  
7 of other cancers. So I think there's a lot of work  
8 to be done there.

9           It's nothing magical. I think it's a lot of  
10 molecular biology, and finding good antibodies, and  
11 finding good targets, and diving both into how to  
12 target them, but also the biology of them will help  
13 us get some of these things to the clinic. So  
14 thank you, and I'm happy to take questions.

15           (Applause.)

16                           **Clarifying Questions**

17           DR. PAPP0: Thank you very much, Dr. Bosse.  
18 We will now proceed with questions for Dr. Kris  
19 Bosse. Please remember to state your name for the  
20 record before you speak. After we're done with  
21 Dr. Bosse's questions, if there's time, we will  
22 have the other three members of the panel ask their

1 remaining questions to Dr. Mackall.

2 DR. DuBOIS: Steve DuBois, Dana-Farber.  
3 Thanks so much, Kris; really, terrific work. It's  
4 both uplifting and daunting. You've presented  
5 several very rational targets and several rational  
6 ways of targeting those targets, and this is a rare  
7 disease.

8 So how do we grapple with that to figure out  
9 the very best to move into the clinic straight  
10 away?

11 DR. BOSSE: I don't know the answer. It's a  
12 good question. You're a leader in this area. I  
13 think it's a rare disease, but I think there are  
14 also patients we see every week who have no  
15 therapeutic options. I think there's a balance,  
16 and I think we have to do well-designed preclinical  
17 studies, and then see if there's any hint of  
18 efficacy in humans.

19 We see many patients every week who were  
20 grappling to figure out what to try to get rid of  
21 their relapsed tumor. So I think there are limited  
22 patients but enough to explore some of these

1 therapies in a safe way.

2 DR. BOLLARD: Cath Bollard, Children's  
3 National. Again, a very elegant presentation, so  
4 thank you. Obviously, in solid tumors, one big  
5 obstacle to immunotherapy is MHC loss and  
6 neuroblastoma being a big problem for that.

7 How much work and investment should be done,  
8 in particular in neuroblastoma as well as other  
9 pediatric tumors, to really hunt out these MHC  
10 restricted epitopes, in reality, probably in vivo;  
11 or do you think it's worth exploring strategies,  
12 in vivo strategies, to upregulate MHC? I'd just be  
13 interested in your thoughts.

14 DR. BOSSE: I share the same -- I wouldn't  
15 say "concerns" the right word. Not only is there  
16 enough MHC presented peptide to be targetable, but  
17 then also the personalized nature of these  
18 therapies, just given the HLA background, has to be  
19 the same. I think those are challenges.

20 I'm excited to see the data that Mark comes  
21 up with, of whether these are targetable in our  
22 models, which do recapitulate the human tumor quite

1 well. So I think we could answer the are they  
2 targetable question. But it goes back to Steve's  
3 question, how do you choose which therapies, and if  
4 it's only going to be good for 20 percent or 30  
5 percent of a rare tumor, is that something we  
6 should spend our time on?

7 I think Mark's work is very exciting and a  
8 proof of concept, but then we'll have to make some  
9 hard decisions of whether we would advance these to  
10 the clinic. But a large part will be dependent on  
11 the preclinical in vivo studies I think.

12 DR. LAETSCH: Ted Laetsch. Again, Kris,  
13 nice presentation. I know these targets were  
14 discovered as lineage specific, but when I look at  
15 your RNA-seq data for GPC2, for example, I notice  
16 there are some rarer subsets of other tumors that  
17 also have high expression. Do you think these  
18 should be developed in a histology-specific  
19 fashion, or do you think these should be developed  
20 in a biomarker-specific fashion, where high  
21 expressing tumors, regardless of histology, would  
22 be a potential target?

1 DR. BOSSE: I think with -- easiest  
2 biomarker would be GPC2 expression. I think we  
3 could very easily develop that as a clinical  
4 companion diagnostic via IHC. The question in my  
5 mind is why do these other subset of tumors express  
6 GPC2? Some of it is MYCN. As shown in lung  
7 cancer, MYCN similarly drives GPC2 expression.  
8 Some sarcomas, et cetera have MYCN amplified  
9 subsets.

10 So that's probably part of the answer. I  
11 think at the end of the day, we'd have to have an  
12 enrollment that's based on proven expression, which  
13 we've done for other immunotherapies that have come  
14 down the pipeline. But we're really interested in  
15 understanding why there are a subset of high-grade  
16 gliomas -- I didn't show that -- express GPC2, and  
17 why is it only that subset. And again, it's  
18 probably -- and we haven't proven this -- due to  
19 those are the MYCN amplified tumors within each  
20 histotype.

21 DR. SMITH: Kris, a very nice presentation.  
22 I think the focus of looking at the alkylating

1 agent ADCs is probably an important one to pursue  
2 and getting beyond the tubulin and binding ADCs for  
3 a cancer like neuroblastoma.

4 One concern that I have, and if you could  
5 comment on this, is that the 1 mg per kg dose for a  
6 PBD ADC may be higher than -- or may be  
7 overpredicting what we might see in the clinic. So  
8 the question would be your thoughts on that, and  
9 the role of dose-response testing, and looking at  
10 activity in the 0.1-0.3 mg per kg range for these  
11 PBD ADCs to better assess what might happen in the  
12 clinic.

13 DR. BOSSE: Yes. I think it's a good  
14 question. There has been a lot of press about PBD  
15 with the DL3 experience. I think the one question  
16 is, is PBD the right payload? But I completely  
17 agree -- and I have a student in the lab doing this  
18 just now, comparing efficacy across different  
19 payload classes. And you're absolutely right,  
20 tubulin binders won't be effective in our types of  
21 cancers. So I think it will have to be in that  
22 class. Whether that's the right molecule or not, I

1 don't know.

2 We didn't do a lot of dose exploration. We  
3 used our initial studies as proof of concept, so I  
4 think we'd have to go back and do that with the  
5 ultimate therapy that we decide to bring into the  
6 clinic. But the payload question is a very good  
7 one. It's surprising to me that no one's ever  
8 done, at least as so far as I know, that type of  
9 very easy panel screen and cell lines that are  
10 pediatric relevant at least.

11 So we're doing that, and I think the data  
12 will be not terribly surprising but hopefully prove  
13 that putting DM1, DM4, or MMAE on our  
14 pediatric-specific antibodies are not the right  
15 thing to do.

16 DR. BENDER: Julia Glade Bender, Memorial  
17 Sloan Kettering. I want to thank both you Kris,  
18 and Crystal, for really some enlightening talks.  
19 It strikes me -- I was very impressed by the data  
20 that Crystal presented about tumor heterogeneity  
21 and breakthrough then, and relapse rates when you  
22 targeted a single antigen.

1           I think that the ADC approach, because of  
2           its bystander effects, may be better perhaps for  
3           solid tumors because I would think that the tumor  
4           heterogeneity problem would be worse in solid  
5           tumors maybe than leukemia, though that may be a  
6           naive idea. But I also worry, for example, with a  
7           target like GPC2, when you don't understand the  
8           actual biology of that molecule as well, what might  
9           be the resistance mechanism, and therefore the  
10          novel next epitope.

11           DR. BOSSE: To take your first question, I  
12          think the heterogeneity point is a very good one.  
13          I think CD19, although very homogeneously  
14          expressed, it's a bit heterogeneous in some  
15          patients, so even that's able to escape a low  
16          antigen expression. So absolutely, I think it's a  
17          problem for CAR T cells and solid tumors. There  
18          are ways to try to avoid that.

19           I agree with the bystander effect, and we  
20          see some of that. I didn't show that data, but we  
21          do see that with this ADC. It's payload dependent,  
22          and it's cell context, depending whether you're

1 able to pump out the drug and get to neighboring  
2 antigen negative cells. But I do think it's an  
3 advantage of this type of therapy for solid tumors.

4           You're absolutely right; GPC2, the  
5 resistance mechanism could involve changing  
6 pathways of tumorigenicity or how the tumor's  
7 growing. It's something we're looking into. I  
8 think as we test more of these therapies, both in  
9 our mouse models and humans, we'll hopefully have  
10 the biology studies teed up to understand if we  
11 don't see the effect we do, why that is.

12           So again, as part of this team and taking  
13 this from a very biology-driven approach, we'll try  
14 to think as prospectively as we can about that to  
15 understand if they do fail in the clinic, why is  
16 that. Is it just that we're relapsing with low  
17 antigen clones or is it something more complicated?

18           DR. REAMAN: Kris, a great presentation and  
19 beautiful work on the GPC2, which actually was the  
20 reason that it's on the relevant target list.

21           Two quick questions. One, given that many  
22 of the targets that you discussed seem to be

1 involved in the sympathetic nervous system  
2 differentiation, do you see a potential for  
3 toxicity, particularly in young children were they  
4 to become therapeutic agents in the future? If so,  
5 how would you go about evaluating that?

6 Then the other question, just to follow up  
7 on the heterogeneity, do you see co-expression of  
8 some of these in tumors, and would there be an  
9 opportunity to prevent escape by using combination  
10 immunotherapy approaches?

11 DR. BOSSE: I'll take your last question  
12 first. Yes. It's something we haven't gotten to  
13 yet, but we have the data with co-expression and  
14 the list of molecules we want to go -- and I think  
15 the technology is done in Crystal's lab, that we  
16 can easily, quote/unquote "make these type of  
17 constructs and study those questions." We just  
18 haven't done it, but I think that's an obvious next  
19 step, especially if we were to see relapse with  
20 antigen-low clones.

21 Your first question, I think when we were  
22 doing the GPC2 work, we were asked by several

1 reviewers to even take a deeper dive via  
2 immunohistochemistry into the nervous system  
3 tissues. In part of that work we did, we stained  
4 sympathetic ganglia, and spinal cords, and nerve  
5 tissues. Those all looked negative by IHC. So we  
6 feel like for these stem molecules, it's not  
7 expressed on the postnatal tissues.

8 But you're right; GPC2 is a perfect example.  
9 So I think we just have to do the studies. It's  
10 largely driven by IHC now. We fortunately have a  
11 large clinical resource where we can get these  
12 tissues and stain them, but it's antibody  
13 dependent, and the binder you're using may not be  
14 the same binder you use for IHC. So I think these  
15 all just have to be done very carefully.

16 Then, I think the ultimate the test is the  
17 human experience and to understand and do those  
18 studies very safely and carefully with the  
19 appropriate safeguards in place.

20 DR. PAPPO: Thank you very much to our  
21 speakers.

22 Now, we're going to take a 10-minute break.

1 Panel members, please remember that there should be  
2 no discussion of the meeting topic during the break  
3 amongst yourselves or with any member of the  
4 audience, and we will resume at 10:50 a.m. And  
5 once again, thank you very much to our speakers.

6 (Whereupon, at 10:39 a.m., a recess was  
7 taken.)

8 **Questions to the Subcommittee and Discussion**

9 DR. PAPP0: We're going to get started.

10 There are no open public hearing speakers,  
11 so we will now proceed with the charge and  
12 questions to the subcommittee and panel  
13 discussions. I would like to remind public  
14 observers that while this meeting is open for  
15 public observation, public attendees may not  
16 participate except at the specific request of the  
17 panel.

18 If there are no questions or comments, we're  
19 going to start with the questions. I read the  
20 questions?

21 DR. REAMAN: I can read the questions,  
22 unless you'd like to read the questions.

1 DR. PAPPO: It sounds more influential if  
2 you do it.

3 DR. REAMAN: After listening to the  
4 presentations from our speakers and the discussion  
5 that we had around those presentations, what we are  
6 actually here to do is to look at the list of  
7 molecular targets associated with specific cell  
8 lineage determinants.

9 Is there anything that you heard, anything  
10 that you are aware of from emerging data that would  
11 make it necessary for us to consider adding a  
12 molecular target or a target to this list?

13 DR. PAPPO: So if there are no questions or  
14 comments concerning the wording or the question, we  
15 will now open this question for discussion, and we  
16 will show you the target list that was developed  
17 earlier for you just to see what's available.

18 Steve?

19 DR. DuBOIS: Steve DuBois, Dana-Farber.  
20 There are a few things that are on the other list,  
21 but we shouldn't ignore as potential immunotherapy  
22 targets. For example, ALK is already on the list

1 of molecular targets of interest, but I think  
2 certainly falls into this category. Likewise,  
3 CD99, IGF1R I think could be potentially added to  
4 the list.

5 DR. REAMAN: So let me just clarify a little  
6 bit. As I mentioned, at least the classification  
7 of the list was somewhat artificial, certainly not  
8 based in strong science. So there's a great deal  
9 of overlap. I think from the standpoint of what's  
10 on the list, what should be on the list, should  
11 really be thought about from the perspective of the  
12 legislative mandate that we received to develop two  
13 lists, relevant molecular targets and non-relevant  
14 molecular targets.

15 So from my perspective, anything that's on  
16 the relevant target list could be in one or more of  
17 a class. And you're absolutely right. Many of  
18 these could in fact be used as part of an  
19 immunotherapeutic approach. But where they reside  
20 in these sort of artificial classifications, is not  
21 something that we have to be too concerned about?

22 DR. DuBOIS: Thank you.

1 DR. BOLLARD: Cath Bollard, Children's  
2 National. I was curious why SurviveN is not on  
3 this list. Had you thought about that?

4 DR. REAMAN: I think it's on the list, but  
5 maybe not in the cell lineage determinants. I  
6 remember seeing -- I know SurviveN was -- I think  
7 it's probably on the other target list.

8 DR. BOLLARD: Okay, good.

9 DR. PAPPO: Based on the presentation, I  
10 think that we should add PAPPA for Ewing's.

11 DR. REAMAN: Correct; I mean, we would agree  
12 with that.

13 DR. PAPPO: A couple of other presentations  
14 from Dr. Bosse. I don't know how relevant IGFBPL1  
15 is or is going to be, and if that should be  
16 included in the list based on his presentation.

17 DR. REAMAN: I would think that they're  
18 relevant targets with potential application to  
19 therapeutic evaluation and intervention. So I  
20 would think that GFBPL1, PHOX2B might also -- DLK1,  
21 DL3.

22 DR. PAPPO: That one's there already.

1 DR. REAMAN: Oh, it is? That's right.

2 DR. PAPPO: Yes, DLK1 is there.

3 Any more questions?

4 (No response.)

5 DR. PAPPO: I'm going to summarize those  
6 questions. It was brought up that there are some  
7 targets that perhaps should have been included in  
8 the specific cell lineage determinants, but  
9 Dr. Reaman explains that there's some overlap  
10 between the two lists, and they should be looked at  
11 as whether the target is relevant or not,  
12 independent of whether it's in table 1 or table 2.

13 It was also brought up that we should  
14 include SurviveN, so we will look at the other list  
15 in a few minutes; and if that's not there, that  
16 will be added. Also, we thought that it would be  
17 worthwhile including PAPP, PHOX2B, IGF1BPL1 to the  
18 target list.

19 Is there anything I left out?

20 DR. BOLLARD: Sorry. SurviveN, it's there.  
21 It's on the list.

22 DR. PAPPO: Which one?

1 DR. BOLLARD: SurviveN.

2 DR. PAPPO: Okay. It is on the list.

3 DR. BOLLARD: Yes.

4 DR. PAPPO: Okay. Perfect. So that  
5 addresses that.

6 Is there anything else I left out or  
7 anything I've misinterpreted from our discussion?

8 (No response.)

9 DR. PAPPO: Then we will proceed to the next  
10 question.

11 DR. REAMAN: The next question is similar.  
12 Please discuss any new or emerging data that  
13 provides sufficient evidence that a relevant target  
14 currently on this list should be removed.

15 DR. PAPPO: We're going to show the list  
16 again.

17 DR. REAMAN: Sorry.

18 DR. PAPPO: Any takers?

19 DR. REAMAN: I must say it took a long time  
20 to come up with these questions here.

21 (Laughter.)

22 DR. REAMAN: So we now move to the other

1 class of relevant targets that we talked about,  
2 those on normal immune cells and cells in the tumor  
3 microenvironment. From what we heard and what you  
4 may know from emerging scientific data, are there  
5 additions that the committee would like to bring  
6 forward for discussion?

7 DR. PAPP0: If there are no questions or  
8 comments concerning the wording or the question, we  
9 will now open the question to discussion, and we  
10 will show you the list also. Cath?

11 DR. BOLLARD: I'm just interested. TGF beta  
12 receptor, did you think of that as a target?

13 DR. CASAK: It is on the list.

14 DR. REAMAN: It's not in this class, but  
15 it's definitely on the list, in another class.

16 DR. BOLLARD: Because it is on cells in the  
17 tumor microenvironment, just to clarify.

18 DR. REAMAN: We could probably move it.

19 DR. DuBOIS: There are some agents targeting  
20 IL-15 receptors, so I didn't see IL-15 as a  
21 relevant target.

22 DR. RINI: May I comment?

1 DR. PAPPO: Yes.

2 DR. RINI: In the adult world, hypoxia  
3 inducible factors, as a transcription factor  
4 upstream, a VEGF and VEGF receptor is targetable.  
5 There's a drug and drugs in development. I didn't  
6 know in the pediatric world if that would be  
7 potentially relevant.

8 DR. REAMAN: Definitely potentially  
9 relevant; so HIF-alpha.

10 DR. PAPPO: There is also one that is being  
11 developed for adults in pancreatic cancer called  
12 VISTA. I know there's something from MD Anderson,  
13 so I don't know if that should be included here. I  
14 don't know how relevant it is for pediatrics, but I  
15 know that they have an active trial at MD Anderson.

16 DR. SHAH: The only other one that I would  
17 mention is CSF1R signaling, which is macrophage  
18 colony stimulating factor receptor. That should be  
19 targeting the tumor microenvironment and may have  
20 some role there. There's a phase 1 trial at  
21 Pediatric Oncology Branch that's been looking at  
22 that.

1 DR. PAPPO: Any other questions? Donna?

2 MS. LUDWINSKI: Donna Ludwinski. There was  
3 a concept I know that was thought about in NAND for  
4 using CD105, the endoglin, but I don't know that  
5 that needs to be included in this list.

6 DR. REAMAN: Do you have any more specific  
7 information about what it is and what -- I mean,  
8 it's something that we can take back and search the  
9 literature and consider.

10 MS. LUDWINSKI: I think the company has  
11 pulled the drugs, so I don't know that it's that  
12 helpful. But I don't know if that's a relevant  
13 target.

14 DR. REAMAN: But the relevant targets,  
15 again, are relevant independent of whether there is  
16 a drug or a drug in development because we  
17 obviously can't predict the future. So this was  
18 CD105?

19 MS. LUDWINSKI: 105.

20 DR. REAMAN: Okay.

21 DR. BENDER: Yes, it's CD105, and endoglin  
22 is one of the compensatory pathways for VEGF

1 inhibition.

2 DR. PAPP0: I will try to summarize this  
3 discussion. It was recommended that TGF beta  
4 receptor be moved to this list of the immune cells  
5 and cells in the microenvironment; that IL-15  
6 receptor should be added to the list, as well as  
7 HIF, VISTA, CSF1 receptor, and investigate a little  
8 bit further CD105 as a potential target for this  
9 list.

10 Any other things that I left off or anything  
11 else that you would like to add to this question?

12 DR. REAMAN: CSF1R is actually on the list  
13 in another class on here, but it is on the list.

14 DR. PAPP0: We will now proceed to the next  
15 question.

16 DR. REAMAN: Please discuss any new or  
17 emerging data that provides sufficient evidence for  
18 the deletion of a target on the list.

19 DR. PAPP0: If there are no questions or  
20 comments concerning the wording or the question, we  
21 will now open this question for discussion.

22 DR. REAMAN: Does anyone need to look at the

1 list again?

2 (No response.)

3 DR. PAPP0: I hear crickets. We'll move to  
4 the final question for this session. Dr. Reaman?

5 DR. REAMAN: Please discuss any specific  
6 recommendations for how best to evaluate and/or  
7 prioritize combinatorial approaches to evaluating  
8 agents directed at targets on normal immune cells.

9 I think this follows the discussion that we  
10 had after Dr. Mackall's presentation about the fact  
11 that response to single-agent checkpoint inhibitors  
12 have been somewhat disappointing in the pediatric  
13 space; so what kinds of combinations an how should  
14 we evaluate them going forward, or how could we  
15 evaluate them going forward?

16 DR. PAPP0: If there are no questions or  
17 comments concerning the wording or the question, we  
18 will now open the question to discussion. Cath?

19 DR. BOLLARD: I think it's important to  
20 understand that actually CAR T cell therapy is  
21 already a combination therapy. They're combining  
22 lymphodepletion with CAR T cells. So I actually

1 think, personally, the way forward is combination  
2 therapy even beyond chemo and T cell therapy.

3           The low-hanging fruit is certainly  
4 checkpoint inhibitors and T cell therapies, whether  
5 it be CAR T cell therapies or other immune-based  
6 therapies. But I go back to my question from the  
7 last speaker, MHC loss is a problem. And if we can  
8 look at strategies to upregulate MHC, I think that  
9 would be one; then certainly combining with  
10 epigenetic modifiers would be another; certainly in  
11 the hematologic malignancy space, another option to  
12 combine T cell therapy, for example, with the  
13 decitabine, that sort of age class of agent.  
14 That's my immediate thoughts.

15           DR. SHAH: I would add to that, specifically  
16 for CAR T cell therapy, I think we're going to  
17 continue to be plagued with the issue of antigen  
18 loss. So while there is combination therapy with  
19 lymphodepletion in CAR, we also have to think about  
20 rationally designing combination multi-antigen  
21 targeted strategies.

22           Crystal Mackall mentioned several that are

1 currently being tested with 19 and 22, which are  
2 the two most active ones that are used in ALL, but  
3 I think especially going into solid tumors, we'll  
4 have to think about how to do that.

5           Ultimately, you need to be able to show that  
6 you have single function or single antigen targeted  
7 activity, but then taking it to the next step, and  
8 how to quickly make that happen knowing that there  
9 are significant costs of vector production, and  
10 getting it through a phase 1 trial. But I think  
11 that's really what we need to do in terms of CAR  
12 T cell and having it be more durable remissions.

13           DR. DuBOIS: I think returning to Crystal's  
14 paradigm of one side of the slide turning cold  
15 tumors hot, I think we need to acknowledge that  
16 some of the agents that may turn a cold tumor hot  
17 may in and of themselves have anti-cancer activity.  
18 So that poses a regulatory issue I think for you to  
19 try to understand what is the novel agent  
20 contributing if it's being added, even to  
21 chemotherapy, or to radiotherapy, or PARP  
22 inhibition where there may be some activity of the

1       partnering agent.

2               DR. HOTAKI:  Sorry.  Before you speak, let  
3       me know so I can write your name down.  We have a  
4       running list.  Thanks.

5               DR. SMITH:  Malcolm Smith.  I'd go back to  
6       the combinations involving the checkpoint  
7       inhibitors.  I think the first two comments  
8       highlighted that our primary interest will be in  
9       the engineered T cells, and combinations or  
10      bispecifics, and different combinations around  
11      that.

12              I would urge the kind of caution that was  
13      verbalized earlier about combinations involving  
14      checkpoint inhibitors and T cell activating agents  
15      that are designed to allow the immune system to  
16      recognize a neoantigen or something about the  
17      cancer cell.

18              If we really have a tumor that doesn't have  
19      neoantigens and appears to be invisible to the  
20      immune system, before we start making combinations,  
21      I think we really need some evidence that there is  
22      something that is being recognized.  If it's not an

1 neoantigen, what is it?

2           Before we get deep into combinations, I  
3 think there should be a clear understanding that  
4 this is what we're targeting with this combination  
5 that will allow the immune system to recognize it.  
6 And I think the idea that chemotherapy might induce  
7 mutations is not a plausible one because you've got  
8 billions of cancer cells in the body. Chemotherapy  
9 is going to stochastically induce mutations in a  
10 few.

11           So that's not going to induce mutations.  
12 Maybe it will somehow allow something else to be  
13 recognized, but I think there should be a clear  
14 hypothesis and data for what the chemotherapy or  
15 the radiation is allowing the immune system to  
16 recognize in a pediatric cancer before we get well  
17 into these types of combinations.

18           DR. SHAH: Nirali Shah. The one thing that  
19 has not been mentioned is what the effect is of  
20 antibody-based targeting strategies and how that  
21 impacts either T cell-based approaches or other  
22 types of immune targets. So I think we need to

1 think about what role those have as we try to  
2 prioritize combinatorial approaches.

3 For instance, what is the impact of  
4 inotuzumab, which is a CD22 targeted antibody  
5 therapy, on CD22 CAR T cells and what those  
6 responses are or antigen lost thereafter.  
7 Similarly, we need to look at the impact of  
8 blinatumomab and what that pre-treatment could do  
9 to patients who subsequently go on to receiving  
10 CD19 CAR. So I think that there are going to be  
11 multiple examples of other antibody-based  
12 strategies and the interplay with that in T cell  
13 therapies maybe we have to study.

14 DR. PAPPO: Anybody else? Greg?

15 DR. REAMAN: I just wanted to point out that  
16 we're here really to talk about the Race for  
17 Children Act in Section 504 FDARA and the early  
18 evaluation of targeted agents, which really doesn't  
19 address the issue of combinations per se.

20 So despite the fact that it doesn't  
21 necessarily address targets or mean combinations,  
22 when there is information that's available from

1 either preclinical data or from limited adult  
2 clinical data, and if one or more of the agents in  
3 the combination is new, there could be a  
4 requirement for an early evaluation.

5 At the same time, when we evaluate new  
6 agents that might be coming in as a part of an  
7 initial pediatric study plan that are being  
8 developed for an adult cancer, and there's some  
9 lack of clarity about what the combination might  
10 be, we would probably defer decisions about  
11 requiring early evaluation until there's more  
12 definitive data to suggest that the presumed  
13 mechanism of action on a pediatric tumor is also  
14 going to require an additional agent, and what that  
15 agent should be, we'll have to make a decision.

16 So I think despite the fact that it's not  
17 spelled out specifically in the legislation, I  
18 think we want to be as rational and as clinically  
19 and scientifically appropriate in the  
20 decision-making about when to proceed and how to  
21 proceed with early evaluation of novel agents and  
22 early evaluation of novel agents that are going to

1 require combinations with something else.

2 DR. SMITH: Malcolm Smith. I think that's a  
3 good approach. I think also, just to highlight  
4 what Crystal Mackall said earlier, that there will  
5 be adult cancer studies of combinations, I think  
6 that's where we're going to learn of some of these  
7 new concepts, that stimulating new approaches to  
8 recognizing cold tumors may play out. I think  
9 until we see really good signals there, we're not  
10 going to be able, absent extraordinary preclinical  
11 data, to really make the advances in pediatric  
12 cancer.

13 DR. REAMAN: I just wanted to ask  
14 Dr. Bollard, could you just expand a little bit on  
15 specific approaches to addressing the MHC loss  
16 issue? I know they're probably more theoretical  
17 than anything, but just for some clarification.

18 DR. BOLLARD: Yes. I was hoping that some  
19 other clever people around the table would -- but  
20 certainly, there's the idea that interferon gamma  
21 will upregulate MHC. I don't think that exogenous  
22 use of interferon gamma is a realistic option, but

1 delivering the interferon gamma nanoparticle  
2 approach, T cell therapy approach, in a targeted  
3 way may enhance MHC class 1 upregulation.

4 So that's sort of the idea I was thinking  
5 of, but if there's a small molecule delivery system  
6 that can be utilized as well, that would be ideal,  
7 too.

8 DR. PAPPO: Any other comment?

9 (No response.)

10 DR. PAPPO: So I'll try to briefly summarize  
11 this discussion for question number 5. I think  
12 what caught my attention the most was what Malcolm  
13 said, that in order to develop combinatorial  
14 approaches, we really need to have very strong  
15 preclinical data or very strong data from adults,  
16 and try to define what are we specifically  
17 targeting and that there should be a  
18 hypothesis-driven approach prior to proceeding to  
19 just starting combination therapies.

20 I know the thing that caught my attention  
21 was also the possible upregulation of MHC, and you  
22 have addressed that already, so that could be a

1 potential avenue for tumors that have MHC loss.  
2 Another issue that was brought up was the  
3 combination of epigenetic modifiers to try to  
4 enhance T cell function. One issue that was  
5 brought up is when you start adding other  
6 therapies, whether it's chemotherapy or radiation,  
7 then that may represent a regulatory challenge  
8 because in the end, you may not know what did the  
9 trick and how effective your immune cell-based  
10 therapy really was. There was also talk about  
11 using combinatorial approaches with  
12 T-cell activation.

13 I think that's pretty much what I have. Let  
14 me know if I missed anything, or if I should add  
15 anything, or if I should reword anything I said, or  
16 if you don't want to sit with me at lunch because I  
17 said the wrong thing; one of those things.

18 (No response.)

19 **Adjournment**

20 DR. PAPP0: So now we will a break for  
21 lunch, and because we ended a little bit early,  
22 we're going to be here at 12:45, and then we will

1 start the afternoon session.

2 I would ask the panel members that there  
3 should be no discussion of the meeting topics  
4 during lunch amongst yourselves or with any member  
5 of the audience. Thank you very much, and thank  
6 you again to our great speakers.

7 (Whereupon, at 11:17 a.m., the morning  
8 session was adjourned.)

9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22