

**FOOD AND DRUG ADMINISTRATION (FDA)
Center for Biologics Evaluation and Research (CBER)
157th Vaccines and Related Biological Products Advisory
Committee (VRBPAC) Meeting**

OPEN SESSION - TOPIC II

**FDA White Oak Campus
Great Room, Salon B & C
Silver Spring, MD 20903**

October 9, 2019

This transcript appears as received from the commercial transcribing service after inclusion of minor corrections to typographical and factual errors recommended by the DFO.

ATTENDEES

Hana El Sahly, M.D.	Baylor College of Medicine
Hayley Gans, M.D.	Stanford University Medical Center
Holly Janes, Ph.D.	Fred Hutchinson Cancer Research Center
Michael Kurilla, M.D., Ph.D.	National Institutes of Health
H. Cody Meissner, M.D.	Tufts University School of Medicine
Paul Spearman, M.D.	University of Cincinnati School of Medicine
Geeta Swamy, M.D.	Duke University
Sheldon Toubman, J.D.	New Haven Legal Assistance Association
Melinda Wharton, M.D, M.P.H.	Centers for Disease Control and Prevention
Tammy Beckham, D.V.M., Ph.D.	Department of Health and Human Services
Lisa L. Bollinger, M.D., FAAP	GRAAS, Research and Development Amgen
Steven A. Pergam, M.D., M.P.H., FIDSA	Seattle Cancer Care Alliance
David Wentworth, Ph.D.	Centers for Disease Control and Prevention
Carolyn Wilson, Ph.D.	Food and Drug Administration
Jerry P. Weir, Ph.D.	Food and Drug Administration
Marion Gruber, Ph.D.	Food and Drug Administration
Serina A. Hunter-Thomas, M.S.A., R.N.	Food and Drug Administration, CBER
Prabhakara Atreya, Ph.D.	Food and Drug Administration
Monique Hill, M.H.A.	Food and Drug Administration

TABLE OF CONTENTS

TOPIC II: STRAIN SELECTION FOR THE 2020	
SOUTHERN HEMISPHERE INFLUENZA SEASON.....	8
ADMIN ANNOUNCEMENTS, COI STATEMENT	8
INTRODUCTION AND PRESENTATION OF QUESTIONS.....	13
WORLD SURVEILLANCE	20
OPEN PUBLIC HEARING	104
DISCUSSION & RECOMMENDATIONS	104
VOTE (TOPIC II).....	126

1 (Pause.)

2 CPT. HUNTER-THOMAS: Okay. I just want to
3 start with the roll call now. Dr. El Sahly, would you
4 like to start with the roll call, or should I?

5 DR. EL SAHLY: You can go ahead. Thank you.

6 CPT. HUNTER-THOMAS: Okay. So starting with
7 Dr. El Sahly, of course. And then Dr. Gans?

8 DR. GANS: Yes, I'm here.

9 CPT. HUNTER-THOMAS: Okay. Dr. Janes? Dr.
10 Holly Janes? Okay. Dr. Michael Kurilla?

11 DR. KURILLA: Here.

12 CPT. HUNTER-THOMAS: Thank you. Dr. Cody
13 Meissner?

14 DR. MEISSNER: Here.

15 CPT. HUNTER-THOMAS: Thank you. Dr. Paul
16 Spearman?

17 DR. SPEARMAN: Here.

18 CPT. HUNTER-THOMAS: Thank you. Dr. Geeta
19 Swamy?

20 DR. SWAMY: Here.

21 CPT. HUNTER-THOMAS: Thank you. Mr. Sheldon

1 Toubman?

2 **MR. TOUBMAN:** I'm here.

3 **CPT. HUNTER-THOMAS:** Thank you. Dr. Melinda
4 Wharton?

5 **DR. WHARTON:** Here.

6 **CPT. HUNTER-THOMAS:** Thank you. Circling back
7 to Dr. Holly Janes?

8 **DR. JANES:** Yes, I'm here. Sorry.

9 **CPT. HUNTER-THOMAS:** That's okay. Thank you.
10 And checking for Dr. Tammy Beckham, if you've rejoined
11 us? How about Dr. Lisa Bollinger? And Dr. David
12 Wentworth? So Hana, we'll need a few more minutes for
13 them to join because they had to drop off for the
14 closed session. They have been notified to join us
15 back again.

16 **DR. EL SAHLY:** Okay. So we're waiting on Dr.
17 Bollinger and Tammy Beckham?

18 **CPT. HUNTER-THOMAS:** Bollinger, Beckham, and
19 Wentworth.

20 **DR. EL SAHLY:** Okay. All right.

21 **CPT. HUNTER-THOMAS:** Okay. Thanks.

1 **DR. EL SAHLY:** Thank you.

2 **(Pause.)**

3 **CPT. HUNTER-THOMAS:** Okay. Checking in again
4 for Dr. Tammy Beckham? Dr. Steven Pergam? Dr. Lisa
5 Bollinger?

6 **DR. BOLLINGER:** I'm here.

7 **CPT. HUNTER-THOMAS:** And how about Dr.
8 Wentworth? Okay. We're making progress. Holding for
9 Dr. Wentworth and Dr. Beckham. We'll give a few more
10 minutes. Thank you.

11 **(Pause.)**

12 **CPT. HUNTER-THOMAS:** Dr. Beckham?

13 **DR. BECKHAM:** Yes.

14 **CPT. HUNTER-THOMAS:** Dr. Wentworth? Dr.
15 Pergam? Okay. We'll wait a few more minutes for Dr.
16 Wentworth.

17 **(Pause.)**

18 **CPT. HUNTER-THOMAS:** Dr. David Wentworth, are
19 you with us now?

20 **DR. WENTWORTH:** Yup. I just joined.

21 Apologies if I was holding things up.

1 **CPT. HUNTER-THOMAS:** Oh, that's fine. We're
2 still ahead a little bit. Thank you.

3 **DR. WENTWORTH:** Excellent. Great.

4 **CPT. HUNTER-THOMAS:** So we will go ahead and
5 get started, Dr. El Sahly.

6

7 **TOPIC II: STRAIN SELECTION FOR THE 2020 SOUTHERN**
8 **HEMISPHERE INFLUENZA SEASON**

9

10 **DR. EL SAHLY:** All right. Thank you all again
11 for joining the second portion of today's meeting,
12 namely the strain selection for the 2020 Southern
13 Hemisphere influenza season. Captain Serina Hunter-
14 Thomas will read some housekeeping items now, including
15 the conflict of interest statement.

16

17 **ADMIN ANNOUNCEMENTS, COI STATEMENT**

18

19 **CPT. HUNTER-THOMAS:** Thank you, Dr. El Sahly.
20 As far as housekeeping, just a reminder for all of the
21 committee members and speakers to mute your phones
22 while there are presentations and also to mute the

1 microphone that is within the Adobe Connect
2 environment. And I'll proceed with the COI statement.

3 The Food and Drug Administration is convening
4 today, October 9, 2019, for the 157th meeting of the
5 Vaccines and Related Biological Products Advisory
6 Committee under the authority of the Federal Advisory
7 Committee Act of 1972. Dr. Hana El Sahly is serving as
8 the Chair of the meeting for Topic II. Today, for
9 Topic II, VRBPAC will meet in open session to discuss
10 and make recommendations on the selection of strains to
11 be included in an influenza virus vaccine for the
12 2019/2020 Southern Hemisphere influenza season. This
13 topic is determined to be a particular matter involving
14 specific parties.

15 Related to the discussions at this meeting,
16 all members and SGE consultants of this committee have
17 been screened for potential financial conflict of
18 interest of their own, as well as those imputed to
19 them, including those of their spouse or minor children
20 and for the purposes of 18 U.S. Code 208, their
21 employers. Excuse me. These interests may include

1 investments, consulting, expert witness testimony,
2 contracts/grants/CRADAs, teaching/speaking/writing,
3 patents and royalties, and primary employment. FDA has
4 determined that all members of this advisory committee
5 are in compliance with federal ethics and conflict of
6 interests laws.

7 Under 18 U.S. Code 208, Congress has
8 authorized the FDA to grant waivers to Special
9 Government Employees and regular government employees
10 who have financial conflicts when it is determined that
11 the Agency's need for a particular individual's service
12 outweighs his or her potential financial conflict of
13 interest. However, based on today's agenda and all
14 financial interests reported by members and
15 consultants, no conflict of interest waivers were
16 issued under 18 U.S. Code 208.

17 Dr. Lisa Bollinger is currently serving as the
18 acting industry representative to this committee. Dr.
19 Bollinger is employed by Amgen.

20 Industry representatives act on behalf of all
21 related industry and bring general industry perspective

1 to the committee. Industry representatives are not
2 appointed as special government employees and serve as
3 non-voting members of the committee; hence, industry
4 representatives are not screened and do not participate
5 in the closed sessions and do not have voting
6 privileges. Mr. Sheldon Toubman is serving as the
7 consumer representative for this committee.

8 Consumer representatives are special appointed
9 government employees -- excuse me -- appointed Special
10 Government Employees and are screened and cleared prior
11 to their participation in the meeting. They are voting
12 members of the committee and, hence, do have voting
13 privileges. And they do participate in the closed
14 sessions if they are held.

15 Dr. David Wentworth is employed by the Centers
16 for Disease Control and Prevention and serves as the
17 Chief of the Virology Surveillance and Diagnosis branch
18 in the Influenza Division. He is an internationally
19 known expert in influenza virus epidemiology, worldwide
20 influenza disease burden, and influenza virus vaccines.
21 Dr. Wentworth is a regular government employee and

1 serves as a speaker for this meeting under Topic II.
2 He is also serving as a temporary non-voting member for
3 Topic II.

4 At this meeting, there may be regulated
5 industry speakers and other outside organization
6 speakers making presentations. These participants may
7 have financial interests associated with their employer
8 and with other regulated firms. The FDA asks, in the
9 interest of fairness, that they address any current or
10 previous financial involvement with any firm who's
11 product they may wish to comment upon. These
12 individuals were not screened by the FDA for conflicts
13 of interest. FDA encourages all other participants to
14 advise the committee of any financial relationships
15 that they may have with any firms, its products, and,
16 if known, its direct competitors.

17 We would like to remind members, consultants,
18 and participants that if the discussions involve any
19 other products or firms not already on the agenda for
20 which an FDA participant has a personal or imputed
21 financial interest the participants need to inform the

1 DFO and exclude themselves from such involvement. And
2 their exclusion will be noted for the record. This
3 conflict of interest statement will be available for
4 public viewing at the registration table. This
5 concludes my reading of the conflict of interest
6 statement for the public record. And at this time, I
7 would like to hand the meeting back over to Dr. El
8 Sahly. Thank you.

9 **DR. EL SAHLY:** Thank you, Serina. Dr. Jerry
10 Weir, who's the Director of the Division of Viral
11 Products Office of Vaccines Research and Review, will
12 now do an introduction and presentation of questions
13 for the committee.

14

15 **INTRODUCTION AND PRESENTATION OF QUESTIONS**

16

17

18 **DR. WEIR:** Thank you and good morning again to
19 everyone. I'm going to provide just a very brief
20 introduction to the topic of this portion of the
21 advisory committee meeting. The purpose of today's
22 VRBPAC committee discussion is to make recommendations

1 for the strains of influenza A, H1N1, and H3N2 and B
2 viruses to be included in the 2020 Southern Hemisphere
3 formulation of influenza vaccines, which are licensed
4 in the United States.

5 The next slide shows and describes a little
6 bit of the background. Many of you on the committee
7 have been through this process a few times, but this
8 just serves to remind you, as well as anybody that
9 hasn't gone through this process before. The World
10 Health Organization makes recommendations for the virus
11 strains to be included in the influenza vaccines two
12 times a year. The recommendations made for the
13 Northern Hemisphere are made in February or March for
14 the next winter Northern Hemisphere season. And they
15 make recommendations for the Southern Hemisphere
16 usually in September of each year for the next Southern
17 Hemisphere influenza seasons, which is our summertime:
18 June, July, August.

19 But in addition to the World Health
20 Organization recommendations, it's national regulatory
21 authorities must approve the composition and

1 formulation of vaccines in each country. For example,
2 the VRBPAC provides the recommendation for U.S.
3 licensed vaccines in February or March for vaccines to
4 be used in the Northern Hemisphere influenza seasons.
5 And the FDA CBER approves license supplements for each
6 U.S. manufacturer to incorporate and update its strain
7 recommendation. This usually occurs in June or July
8 for the following Northern Hemisphere seasons.

9 But in 2016, one U.S. vaccine manufacturer was
10 approved to produce a Southern Hemisphere formulation
11 of their influenza vaccine. This was an egg-based
12 vaccine. We anticipate that other manufacturers may
13 also ask us to approve Southern Hemisphere
14 formulations; and so it's important that strain
15 recommendations and supplement approval for any
16 Southern Hemisphere formulation follow the same
17 Northern Hemisphere process that we use.

18 So today, this discussion today is somewhat
19 abbreviated compared to the one that we do in February
20 or March for the Northern Hemisphere, but you'll hear
21 examples of the same type of data.

1 Most of this is what is a short version of
2 what was discussed and presented at the World Health
3 Organization meeting a couple of weeks ago. You'll
4 hear from CDC about the epidemiology of currently
5 circulating strains, surveillance data from the U.S. as
6 well as from around the world. You'll see data
7 presented on the antigenic relationships among
8 contemporary viruses and candidate vaccine viruses.

9 This will include hemagglutination inhibition
10 and virus neutralization data, also hemagglutination
11 inhibition and virus neutralization tests on using
12 panels of sera from humans receiving recent inactivated
13 influenza vaccines. Some data will probably include
14 antigenic cartography as well as phylogenetic analysis of
15 HA and NA genes.

16 The next couple of slides I'm going to recap
17 what's gone on in the past few recommendations.
18 Starting about a year ago, the previous recommendation
19 for the Southern Hemisphere formulation for 2019 -- in
20 other words the season that just sort of is ending now
21 or has ended in the Southern Hemisphere.

1 About this time last year, on September 27,
2 the WHO made its recommendation for the Southern
3 Hemisphere formulation. And that was that vaccines
4 would include an A/Michigan/45/2015(H1N1) pandemic 09-
5 like virus, an A/Switzerland/8060/2017(H3N2)-like
6 virus, a B/Colorado06/2017-like virus from the
7 B/Victoria lineage. And the WHO also recommended that
8 quadrivalent vaccines that would contain two influenza
9 B viruses contain the above three viruses plus a
10 B/Phuket/3073/2013-like virus from the B/Yamagata
11 lineage. The VRBPAC recommendation for U.S.
12 manufacturers' Southern Hemisphere formulation was the
13 same as that of WHO, and this occurred on October 3,
14 2018.

15 Since that time, we had, of course, a Northern
16 Hemisphere vaccine recommendation. For those of you
17 who were part of this may remember the WHO
18 recommendation occurred in two phases on February 21
19 and March 21. This was because of the delayed
20 recommendation for the H3N2 strain this past winter.

21 But anyway, the final recommendation was that

1 viruses for the Northern Hemisphere trivalent vaccine
2 contained an A/Brisbane/02/2018(H1N1) pandemic09-like
3 virus, an A/Kansas/14/2017(H3N2)-like virus, and a
4 B/Colorado/06/2017-like virus from the B/Victoria
5 lineage. In addition, the recommendation for
6 quadrivalent vaccines was to include a
7 B/Phuket/3073/2013-like virus from the B/Yamagata
8 lineage.

9 Our VRBPAC recommendations also followed this
10 last year -- the WHO recommendations. And we made
11 these in two different VRBPAC meetings, one on March 6
12 and one on March 22.

13 Recently, this year, the WHO recommendation
14 for the upcoming 2020 Southern Hemisphere formulation
15 was announced on September 27, 2019. This WHO
16 recommendation was an egg-based trivalent vaccines for
17 use in the 2020 Southern Hemisphere contain an
18 A/Brisbane/02/2018(H1N1) pandemic09-like virus, a
19 A/South Australia/34/2019(H3N2)-like virus, a
20 B/Washington/02/2019-like virus from the B/Victoria
21 lineage and additionally for quadrivalent vaccines, the

1 WHO recommended these three viruses and
2 B/Phuket/3073/2013-like virus from the B/Yamagata
3 lineage.

4 Today, the committee will discuss which
5 influenza strains should be recommended for the
6 antigenic composition of the Southern Hemisphere
7 formulation of influenza virus vaccine produced by
8 licensed U.S. manufacturers.

9 And the questions, we will have two. The
10 first question will be for the composition of trivalent
11 2020 Southern Hemisphere formulation of influenza
12 vaccines. Does the committee recommend an inclusion of
13 an A/Brisbane/02/2018(H1N1) pandemic09-like virus, an
14 A/Australia/34/2019(H3N2)-like virus, and a
15 B/Washington/02/2019-like virus from the B/Victoria
16 lineage?

17 And then a second question that the committee
18 will be asked to vote on will be for quadrivalent 2020
19 Southern Hemisphere formulations. Does the committee
20 recommend the inclusion of a B/Phuket/3073/2013-like
21 virus from the B/Yamagata lineage as a second strain?

1 Thank you.

2 **WORLD SURVEILLANCE**

3

4

5 **DR. EL SAHLY:** Dr. Weir presented us with the
6 charge today. Thank you, Dr. Weir. Next up is Dr.
7 David Wentworth, Chief of the Virology Surveillance and
8 Diagnosis Branch, Influenza Division, at the CDC in
9 prevention, who will do a world surveillance review of
10 the circulating influenza strain. Dr. Wentworth?

11 **DR. WENTWORTH:** Thank you. So, we can move
12 off of that title slide to the second slide, which is
13 entitled Global Influenza Surveillance and Response
14 System, which is the GISRS. So, I think many of you
15 are aware of this, but I will walk through it rather
16 briefly -- that there's continuous surveillance
17 conducted by GISRS globally. This included work from
18 WHO collaborating centers, such as the one at the CDC,
19 national influenza centers around the world, WHO
20 essential regulatory laboratories, which Dr. Weir just
21 discussed, and WHO H5 reference laboratories that are
22 involved in the zoonotic surveillance, which I will not

1 go into today.

2 So, the WHO consultation was held from the
3 23rd to the 26th of September where we reviewed and
4 analyzed all the data and came to a conclusion. This
5 was chaired by Dr. Kanta Subbarao of the WHOCC VIDRL in
6 Australia. So, she is the director there, along with
7 the nine advisors: Drs. Hasegawa, McCauley, Wang,
8 myself, Dr. Webby, Zhiping Ye, Dr. Yi, Dr. Bharadwaj,
9 and Dr. Engelhardt. And then we had 21 observers from
10 various NICs H5 reference laboratories, other members
11 of the CCs and ERLs, along with the academic and
12 veterinary sector from OFFLU and other government
13 agencies.

14 So, the next slide, on slide 3, shows the
15 global circulation pattern of influenza viruses. This
16 is taken from a WHO GISRS site, so you can see really
17 the global level for the Southern Hemisphere. Along
18 the bottom axis, you can see the weeks of the year,
19 starting with the 2018 weeks and then shifting into
20 2019. And the color coding -- uh-oh. Hopefully
21 everyone can see that. Can you still hear me okay?

1 **DR. MEISSNER:** Can hear you, can't see
2 anything. Nothing is being shared is the message we're
3 getting.

4 **DR. WENTWORTH:** Yeah. That's what happened to
5 mine, as well.

6 **CPT. HUNTER-THOMAS:** Okay. Standby. We'll
7 check on this end.

8 **DR. MEISSNER:** Well, the slides are available
9 separately.

10 **DR. GANS:** I have the PDF that was sent out so
11 can follow along if others have that as well.

12 **DR. SPEARMAN:** Yeah. I've got mine, too.
13 This is Paul Spearman.

14 **CPT. HUNTER-THOMAS:** Okay. We're going to
15 hold for a moment because we would like the public --
16 for the benefit of the public to follow along as well.

17 **DR. MEISSNER:** While we're waiting -- this is
18 Cody Meissner. May I ask a question of Dr. Weir, or do
19 you want to wait?

20 **CPT. HUNTER-THOMAS:** Sure. Go ahead.

21 **DR. MEISSNER:** I just wondered, Dr. Weir, if

1 you could clarify why the selection of strains for the
2 Southern Hemisphere comes under FDA purview. I think
3 you mentioned there's one pharmaceutical company that
4 makes the Southern Hemisphere strain, but it's not
5 used, I don't think, in part of the United States. So
6 why does that come under FDA regulation?

7 **DR. WEIR:** Okay. So just briefly, it comes
8 under our purview because they submit a supplement to
9 their license to produce this under the U.S. license.
10 How they distribute it and what they use it for, of
11 course, is a company decision. But because they come
12 to the Agency and submit it to us to supplement their
13 license, we must act on it.

14 It also turns out that there is something sort
15 of important that the package inserts for all of these
16 vaccines state -- and this occurs for this manufacturer
17 that produces a Southern Hemisphere formulation -- to
18 keep all of the recommendations consistent. It
19 actually states in their insert that the
20 recommendations follow public health service
21 recommendations. So again, this is just our

1 responsibility to make sure that, if they're going to
2 produce it under a U.S. license, that we approve it.

3 **DR. MEISSNER:** Thank you. That's interesting.
4 And I notice some of your slides -- it looks like we
5 still have a minute -- say egg-based vaccine. Does
6 that mean that the cloned vaccine, for example, is not
7 used in the Southern Hemisphere?

8 **DR. WEIR:** Actually, that's sort -- now that
9 you mentioned it, I noticed it when I flashed up the
10 slides. As you might guess, I update these slides
11 every time we do this. And in one of our recent
12 advisory committee meetings, there was actually a
13 different recommendation for a cell-based vaccine
14 versus an egg vaccine. And so, the language I used in
15 these slides I specified egg-based vaccines, which is
16 appropriate for the manufacturer in question. But
17 probably, I could have just left it out.

18 As you will see when David Wentworth finishes
19 his presentation, there was only one recommendation in
20 this WHO committee recommendation for a vaccine
21 composition. There was nothing different for cell-

1 based vaccines versus egg-based vaccines. But yes, the
2 bottom line is that the manufacturers that produce it
3 for Southern Hemisphere are egg-based vaccines at the
4 present time.

5 **DR. MEISSNER:** Thank you.

6 **CPT. HUNTER-THOMAS:** Just checking in. Can
7 everyone see the webcast now?

8 **DR. SPEARMAN:** Yes.

9 **DR. GANS:** Yes, I can.

10 **CPT. HUNTER THOMAS:** Okay. We're back in
11 business. Dr. Wentworth, apologies. You can proceed.

12 **DR. WENTWORTH:** Thank you very much. So as I
13 was saying, the weeks of the year are on the bottom,
14 and the 2018 versus 2019 is shown further down. And
15 you can focus in on the 2019 season. You can see that,
16 as a general overall peak to the season, we really
17 started to ramp up about week 19 and ended week 35 and
18 36. So you can also see this is a stacked bar graph.
19 And the more orange colors are the B viruses. And
20 these are broken out.

21 You can see the B/Victoria lineage is the kind

1 of brighter orange and the lighter color is the
2 Yamagata lineage. And what you can appreciate there
3 just with the B viruses is there was more Victoria
4 circulating globally than Yamagata. And then the un-
5 subtyped, or lineage not determined, group is the dark
6 burnt orange color.

7 If we go to the light blues or the blue
8 series, we have not subtyped, which is the dark blue.
9 And then A(H3) virus is the more aqua, and the sky or
10 lighter blue are the H1 viruses. And hopefully what
11 you can appreciate there is they circulated in about
12 equal numbers globally. There is difference
13 geographically, obviously. And I'll go into some of
14 that a little bit later. So, we've moved to the next
15 slide. This slide --

16 **DR. KURILLA:** David, one question related to
17 that last slide. Given the ubiquitous-ness of
18 sequencing capabilities, why is there such a high
19 percentage of un-subtyped?

20 **DR. WENTWORTH:** Yeah. That's related to the
21 national influenza centers. So, all this data is

1 coming in through some of the GISRS network. And all
2 of the viruses are genomically characterized in that
3 way. There's both typing, which is easily done by real
4 time PCR, as well as subtype and lineage detection,
5 which is also done by sequential real time PCR.

6 So most of this data comes from PCR diagnostic
7 technologies. And a lot of the NICs, while it may be
8 available, don't bother doing the subtyping or lineage
9 detection on some subsets of their viruses. We can
10 glean from the percentages of the ones that are done
11 what those that aren't characterized would be.
12 Hopefully, that addresses that question.

13 **DR. KURILLA:** Thank you.

14 **DR. WENTWORTH:** So, you can see this is in the
15 thousands every week, globally. So, if we move to the
16 next slide, this is slide 4, the numbers of specimens
17 processed by GISRS. You can look at the red line. You
18 can see that that's the 2019 trend, which is following
19 previous seasons' trends, not too much to dwell upon
20 here.

21 Slide 5, this is going to show you the

1 percentage of influenza viruses by subtype and lineage.
2 So now this is the grand total of that, so it's kind of
3 like collapsing that previous slide that the question
4 was on.

5 And again, you can see there's a lot of A
6 that's not subtyped, 50 percent. But what you can also
7 see is that the ones that are done, the H1N1
8 represented 19 percent and A/H3N2 viruses represented
9 18 percent. So, they were about equal proportions.
10 Again, B not determined is this burnt orange color.
11 And this you can more easily appreciate the difference
12 between the Victoria and the Yamagata, how many were
13 circulating globally.

14 If we move to the next slide, this is slide 6,
15 influenza virus as sequenced and available via GISAID.
16 So, this gets to the sequencing question. A lot of the
17 sequencing is done still primarily by the collaborating
18 centers. But some of the national influenza centers
19 are doing more and more now.

20 Anyway, so this illustrates how many thousands
21 of sequences per each of the subtypes and lineages were

1 deposited. And it does show a little bit of the
2 proportionality of the viruses that are available for
3 the analysis.

4 If we move to the next slide, this is
5 illustrating the antigenic characterization during the
6 past three Southern Hemisphere reporting periods, 2017
7 in the blue bars, 2018 in the red bars and 2019 in the
8 green bars. And what you can see is there's thousands
9 of viruses in the H1, H3, about 2,000 in each category,
10 and B that were antigenically characterized by all the
11 collaborating centers through this period. Now I'm
12 going to move to slide 8. This is going to move into
13 the H1N1 PDM09 virology section, along with a bit of
14 epidemiology. So, if we move to slide 9, this is
15 illustrating the activity globally.

16 So widespread outbreaks are the dark red
17 colors. And you can see the continents and countries
18 where widespread outbreaks occurred along with regional
19 outbreaks. So, we saw quite a bit of H1N1 in the
20 United States, parts of South America and Russia and
21 Asia, for the most part; and more sporadic activity in

1 other countries and regions were reported.

2 If we move to the next slide, this is now
3 focusing on the number of H1N1 viruses detected by the
4 GISRS network. You can see that early in the week of
5 the year -- so the red line is 2019. The green line is
6 2016 where we had a pretty good H1 season. Whereas
7 2017, there was not too many H1s around, and 2018 there
8 was a moderate amount. So, you can see they peaked
9 early this year and then fell off by about week 14 or
10 so and have continued that way in the inter-seasonal
11 levels until present.

12 Go to the next slide. This is now getting
13 into some of the genetic characteristics of the
14 hemagglutinin gene. I know it's a bit small, but we
15 have to do it that way since this represents about
16 4,000 sequences on this tree that are collected and
17 available since February 2019. I'm going to take a bit
18 of time to walk you through the tree because I'll be
19 using some of these names later in the presentation,
20 and hopefully they'll stick.

21 So, Dr. Weir mentioned the previous Southern

1 Hemisphere vaccine. That's at the very bottom of this
2 tree. It's called A/Michigan/45/2015, and I have a red
3 arrow pointing to that because it's way down at the
4 bottom. And then, virtually the entire tree is
5 represented by this blue bar. This is clade 6B1.A, and
6 it is really characterized by three major substitutions
7 which are near the base of this tree. They're S74R,
8 S164T, and Q295B. So, they're down there at the bottom
9 of that tree.

10 But then the entire tree is made up of these
11 6B1A viruses, and they can be divided into various
12 subclades, which you can see the other bar is trying to
13 represent those. And the nomenclature for these is
14 called 183P- a number. So, the very bottom there is
15 183P-7. It's that purple bar. Then we have 183P-2, P-
16 1, and the virus representing the P-2 group is this
17 Maine virus, which is a unique virus that I'll discuss
18 in a bit, the Brisbane/02-like virus, which was
19 recommended for the Northern Hemisphere vaccine, and
20 the Idaho/7 virus, which is the cell vaccine candidate.

21 So, Brisbane/02 is egg vaccines. Idaho/7 is a

1 cell vaccine candidate, and they're both there in a
2 183P group. And we have viruses representing Iowa/12,
3 which is a P-5 group; Iowa/33 also in that group; and
4 then way at the top of the tree in this 183P-5A group,
5 which is expanded the most extensively in the recent
6 months, is the Wisconsin/505 serology antigen virus.
7 Okay. I'm going to move to slide 12 now.

8 This illustrates the HA molecule, the monomer
9 which normally forms a trimer, but it's easier to see
10 some of these changes on the monomer. And on the left-
11 hand side, you can see the major change that I just
12 described, and we've described in previous VRBPAC, is
13 this 183P, which, as you saw from the phylogenetic tree
14 has arisen multiple times through parallel evolution in
15 the viruses circulating in people. And hence, the
16 clade namings all have this 183P, but they might be
17 arising from a different progenitor virus on the tree.

18 Then, if we turn that molecule 180 degrees,
19 you can see other changes that are up in the head of
20 the protein that could affect antigenicity. And they
21 are S164T and S74R. So, this is the molecule Idaho/7,

1 which is a cell candidate vaccine virus, compared to
2 Michigan, the previous vaccine virus. So those are
3 highlighting the major changes. The orange dots on the
4 molecule, or specific amino acid residues on the
5 molecule, really represent areas where glycans are
6 predicted to exist.

7 If we move to the next slide, this is showing
8 you the reactivity patterns seen by the collaborating
9 centers in hemagglutination inhibition assays with H1N1
10 viruses that are circulating. And they're ranked by --
11 they're considered antigenically like Michigan/45 or
12 antigenically low compared to Michigan/45 antisera by
13 the WHOCCs. And this is ferret antisera raised against
14 Michigan/45. So, on the left-hand side, we're
15 comparing the cell -- antisera raised against the cell
16 propagated cultivar of Michigan/45. And that's under
17 the green bar. And under the blue bar, we're comparing
18 against the egg cultivar.

19 So, you can see that the CDC, 95 percent of
20 them are considered antigenically like, and we saw
21 about five percent that were considered low. So, they

1 have eightfold or greater reduction in homologous
2 hemagglutination inhibition titers. And similar
3 patterns are seen by all the other collaborating
4 centers, CNIC, NIID, which is in Japan, in VIDRL in
5 Australia. On the righthand side, you can see the
6 numbers for all the CCs against the egg viruses, and
7 you can see pretty good similarities between the cell
8 and the egg in this particular case with the H1N1
9 viruses. And at the very bottom, you can see the Crick
10 data where they used primarily the egg for comparison.
11 Okay.

12 Some of these are low, and I'll get into
13 those. I named one of them on the previous slide, and
14 one will appear here as to a low virus. The next slide
15 is slide 14. So, this is HI. This is getting into
16 specific hemagglutination inhibition data. Highlighted
17 in gold, I'll walk you through this now, are antisera
18 to the previous vaccine virus for the Southern
19 Hemisphere, the Michigan/45 virus. And on the
20 righthand side is the reference antigen group.

21 So, you can see A/Michigan/45, clade 6B1. It

1 has a homologous titer of 1280 to the MDCK cultivar.
2 So that's the very top row. And Michigan/45/6B1 egg
3 virus, it has a homologous titer of 1280, so that's in
4 the second column there. So, you can see that you're
5 comparing the cell versus the egg in those reactivity
6 patterns.

7 And if we walk down that reference antigen
8 column, then we run into the Brisbane/02, which is the
9 recommendation for the Northern Hemisphere that we're
10 getting this year. It's a 6B1-A virus, so it's in that
11 group that I pointed out that represents basically all
12 the viruses circulating now. It has a homologous titer
13 of 1280 for the cell cultivar and 2560 for the egg
14 cultivar. What you can see is that those two viruses
15 cross-react with each other very well in ferret
16 antisera. And then we look at the IVR/190. This is a
17 Brisbane/02 reassortant virus, so that's the column
18 headed by E3/D8. It should be E8, actually -- IVR/190.
19 And there it has a homologous titer of 2560.

20 And now, we get into a Darwin virus. This is
21 in this P5 group that was at the top of the tree that I

1 showed you, which was more rapidly expanding. And
2 we're seeing a lot of those viruses within the H1N1
3 groups. That Darwin/6 sera has a homologous titer of
4 640 and also cross-reacts with the other reference
5 antisera. Darwin/102 is in this P2 group but not only
6 that. It has a substitution at position 156 to a K.

7 So, we did see a smattering, about three
8 percent of the viruses circulating are like this
9 Darwin/102. And ferrets antigenically distinguished
10 this group of viruses very well. You can see that its
11 titer is 640, its homologous titer -- so if you follow
12 that very bottom line across, it's 640. But it's not
13 reacting very well with the reference viruses above it,
14 all these different groups that I walked through, nor
15 is it recognizing the test antigens very well that are
16 circulating.

17 So, we'll just now go down into the test
18 antigens. And what you can see is that the Michigan/45
19 viruses, both the cell and the egg, along with the
20 Brisbane/02 viruses, cell and egg, really react very
21 well with the majority of these viruses that are out

1 there circulating. And where you see on that is a low
2 reactor like this one Malaysia virus at less than 80,
3 this is generally a virus that has a substitution at
4 position 156. So, we saw a few of those substitutions
5 at position 156, like this Darwin/102 has, in viruses
6 circulating. And all the collaborating centers had a
7 few of these, and they all characterized very
8 similarly, not reacting well with the ferret antisera.
9 But when you make sera against them, it reacts very
10 well with those. But those viruses don't produce
11 antisera that reacts with the other circulating
12 viruses. All right.

13 So now I'm going to move to the next slide.

14 This is a little easier.

15 **DR. MEISSNER:** I apologize. Can I just -- I
16 just want to make sure I understand this slide.

17 **DR. WENTWORTH:** I think that's very fine.

18 Don't apologize. Let's make sure.

19 **DR. MEISSNER:** So, as I look at these figures,
20 it appears that there's only about a twofold difference
21 between the egg-based and the cell-based strains. So,

1 it doesn't seem like that's very much. Is that
2 correct?

3 **DR. WENTWORTH:** That's correct. With the H1N1
4 viruses, we don't see as much of a difference,
5 antigenic distinction between the egg and the cell
6 viruses. That's not true for all egg viruses. But
7 typically, those that are selected for vaccine
8 production are selected because they don't have as much
9 difference from cell viruses. So, in this case, the
10 Michigan/45 is a very good egg virus and same with the
11 Brisbane/02.

12 **DR. MEISSNER:** So that's encouraging because
13 it means that the egg-based vaccines are pretty good in
14 comparison to the cell-based.

15 **DR. WENTWORTH:** Yeah. In this context with
16 the H1N1 viruses, you're interpreting this H1
17 correctly. And the ferrets don't really notice too
18 much of a difference, and I will show you some human
19 serology data with egg-based only to illustrate how it
20 works in humans against viruses that are circulating.
21 Generally, these egg-based viruses acquire one to two

1 amino acid substitutions, one of them typically at 223,
2 which is right in the receptor binding pocket. So, it
3 tends not to have dramatic impact on the antigenicity.

4 **DR. MEISSNER:** Thanks very much.

5 **DR. WENTWORTH:** And as I said, those egg
6 viruses that are isolated but do have an impact on
7 antigenicity usually aren't further progressed through
8 reassortment or anything like that. They're kind of
9 eliminated by the collaborating centers.

10 **DR. MEISSNER:** Okay.

11 **DR. WENTWORTH:** Okay. Great question. Okay.
12 So, I'm going to move to slide 15. This is a little
13 easier on the eyes. It's antigenic cartography. As
14 Dr. Weir mentioned, I would show some of this. What
15 this is, is taking those multiple HI tables and
16 converting them into a more cartographic look where you
17 can see how related viruses are and the fold reductions
18 they have from each other.

19 And as was just pointed out, the egg and the
20 cell in this case are relatively antigenically close,
21 so the Michigan/45 egg is shown in the blue oblong

1 thing. And actually, the cell isn't shown on here, but
2 it would be right on top of it. And the Brisbane/02
3 egg is shown as the green egg-shaped dot. And all the
4 small blue dots indicate viruses that are circulating
5 out there. And they all have this 156N, which is the,
6 quote/unquote, "wild type amino acid" at position 156
7 for the vast majority of H1N1 viruses that are
8 cocirculating.

9 And what you can see here and appreciate is
10 the 156K substitution and what that does to the
11 antigenicity using a ferret antisera as a readout and
12 how it moves the virus to many-fold, eightfold or more,
13 away from the vaccine viruses. But basically, those
14 are the only viruses that we've seen that had antigenic
15 impacts using ferret antisera. And that is
16 representing about three percent at their peak of the
17 viruses that were cocirculating over this period.

18 So, if we move to the next slide, this is a
19 slide illustrating the human serum that is used for the
20 following slides. And I think it's important. So, we
21 have the serum from the U.S., which is the top group

1 here of the population -- the U.S. population. And
2 there, we have multiple age cohorts of what we call a
3 pediatric, which is 11 to 33 months; and older
4 pediatric, which is really young adults in some cases,
5 9- to 16-year-olds; adults 18 to 47; the older adults,
6 which is the 50 to 65-year-old window; and what's
7 considered elderly, and that's 65 and older. Sixty-
8 five to 82 is where we collected the serum. And this
9 is post-vaccination serum that's collected, and the
10 vaccine components used here are listed in this column.

11 We used A/Singapore/INFIMH/16-0019 from 2016.
12 It's also the reassortant IVR186. So that's the
13 previous recommended vaccine -- the Michigan/45(H1N1),
14 the B/Phuket/3073, and a B/Maryland/15/BX69A
15 reassortant. And this is representative -- this is a
16 Colorado-like virus that Dr. Weir mentioned earlier
17 that was in the previous strain recommendation. And I
18 will describe that a bit more when I get into the
19 influenza Bs. I'll remind you about it. The
20 Australian serum panel, we only have an adult, which is
21 22 to 64, so it's basically just a wider age range that

1 we have for the U.S. serum panel, and an elderly, 65 to
2 82, which is the same age range as we have for the U.S.
3 Okay.

4 Now we move to the next slide. This is
5 looking at the human serology and we're looking at
6 post-vaccination hemagglutination, geometric mean
7 titers -- that's what GMT stands for. Sorry for the
8 acronym -- relative to the cell propagated Michigan/45
9 2015-like virus.

10 So, you can see here the cell is the first
11 column. MI45/MDCK is listed. And we're setting the
12 pediatric population to 100, the older pediatric to
13 100, the adult to 100, and the elderly to 100. And
14 then our 50 percent geometric mean line is the red
15 dotted line that goes across this chart. So, we like
16 to see viruses to be above that line if we're going to
17 have better optimal vaccine. And those that are
18 reacting poorly would be more below that line. So,
19 they are showing a reduction in geometric mean titers.
20 A significant reduction would be well below that line,
21 and what you're seeing here is some reduction.

1 So, if we now compare it to the Michigan/45
2 egg, we actually get great reactivity compared to the
3 cell. This of course is the virus people are actually
4 immunized with or represents the virus people are
5 actually immunized with. Then we have Idaho/7. So,
6 this, I mentioned earlier, is the recommended vaccine
7 for the Northern Hemisphere for cell vaccines. So,
8 it's a cell-base version of the Brisbane/02 egg-based
9 vaccine. And you can see the human sera doesn't
10 recognize this as well.

11 Remember these people were vaccinated with
12 Michigan/45, and this is part of the rationale for why
13 the vaccine was changed in the spring. So, I'm just
14 kind of reiterating that. You might have seen this
15 before when Dr. Katz presented. But we've repeated
16 this study for this particular strain selection. So,
17 this is consistent with what we saw in the spring.

18 The Idaho/7 viruses particularly in the
19 pediatric population show a reduction. The older
20 pediatric, you can see it hovers above the 50 percent
21 threshold. And they do pretty well against many of the

1 other viruses circulating. The adults, again, it's
2 right on the line.

3 And now the adult we can see, now, the
4 addition of the gold bars, which is the serum from
5 Australians. And again, against the MDCK we set that.
6 You get 100 percent against the egg. We're seeing a
7 little bit of a drop. In this case, it's a little
8 different than the U.S. serum. But then it drops more
9 significantly with the Idaho/7, the Wisconsin/505, the
10 Iowa/33, Iowa/12, and Maine/38.

11 So now that we kind of have a baseline, I'll
12 walk through what these other viruses do. So, the base
13 of the entire 6B1A group, with a 183P substitution, is
14 like this Idaho/7. And now, if we move to the
15 Wisconsin/505, if you recollect that was at that top of
16 the tree, so it's also a 183P virus. But it has
17 additional substitutions, one at 129 from an asparagine
18 to an aspartic acid, so an N129D. And it also has a
19 thraneeen to an isoleucine at position 185, which, of
20 course, is very close to position 183.

21 This was included as a serology antigen

1 because we wanted to know if there was further
2 antigenic evolution from human sera vaccinated with the
3 egg. And what you can see is it reacts with a very
4 similar pattern as to what we saw with the Idaho/7, so
5 not a more significant reduction in reactivity. The
6 Iowa/33 is another subgroup in the 183P-5, and this has
7 a distinctive substitution at position 130 that
8 distinguishes it from the Wisconsin/505-like viruses.
9 We also saw pretty good reactivity. And again, the
10 older pediatric population is doing very well against
11 the H1N1 PDM09 viruses. And I think you can appreciate
12 that here.

13 Then, if we look at the Australian sera, we
14 can see reductions with the Idaho/7 and Wisconsin/505,
15 along with the Iowa/33. And I'll get into Iowa/12 and
16 Maine/38 in a minute. Looking at the older adults,
17 that's a similar story and the elderly. They actually
18 are -- the sera from elderly look a little bit more
19 like sera from the pediatric population than they do
20 the older pediatric or adult in both the Australian and
21 U.S. panels.

1 Now let me just tell you briefly -- I'm sorry
2 I'm spending a lot of time on this slide, but I think
3 it's very important, particularly with the H1N1
4 viruses, to be looking at the human serologic data.
5 The Iowa/12 is one of these 183P-5 groups, but it also
6 has a substitution at position 156.

7 So, we know the ferret sera -- when I immunize
8 a ferret with a 183P-5, like Michigan, it won't react
9 very well with a 156-substitution variant. So, this
10 represents one of the 156 substitution variants groups
11 we were seeing, 156D. And again, it is reduced
12 compared to Michigan/45, but it's not really more
13 reduced than the Idaho/7 or the Wisconsin/505 or the
14 Iowa/33. That's true basically going down the column
15 in all the various age group serum panels.

16 You can actually see older folks, like adults
17 and the elderly particularly in Australia sera, may do
18 a little bit better in that group than in some of the
19 other groups. But they're very similar, as well,
20 across the board.

21 Now, if we go to Maine/38, that's the 156K

1 substitution. And again, we see a reduction there.
2 That's the one that maybe has a stronger impact even in
3 human sera. So you can see that going down. We didn't
4 have enough human sera to test that with the older
5 adult population, which is why you don't see any bars
6 in that. All right. I'll pause there for a second in
7 case there's a question, and then I'll move to the next
8 slide. Okay.

9 This slide summarizes the H1N1 PDM09 virus
10 groups, and H1N1 virus that's circulating globally
11 predominated in many countries. And I showed you that
12 earlier: Africa, Asia, Europe, and South America.
13 Genetically, nearly all of the viruses fall into this
14 6B1.A clade, which have these characteristic
15 substitutions of S74R, S164T, and I295B. There are
16 several cocirculating clades that have S183P as a
17 defining amino acid characteristic. The majority
18 recently are in the S183P-5 group and also share amino
19 acid substitutions N129D and T185I. And that
20 Wisconsin/505 virus that we tested in a variety of our
21 assays is a representative of that group.

1 The Northern Hemisphere vaccine viruses are
2 Brisbane/2 for the egg-based and Idaho/7 for the cell
3 based, are 6B1A with S183P substitutions. And they
4 were tested in the human serum panels and in the ferret
5 serum panels. Antigenic analysis with ferret antisera
6 demonstrates they're indistinguishable from egg and
7 cell culture propagated in Michigan/45 viruses.

8 So, the viruses circulating currently aren't
9 distinguished well by ferret antisera. They're also
10 undistinguished from egg propagated in Brisbane/02 and
11 cell propagated Idaho/7, the newer vaccine viruses for
12 the Northern Hemisphere. With the human serology
13 studies, the post-vaccination HI geometric mean titers
14 against recent representative 6B1A, plus S183P, were
15 reduced compared to those of cell propagated
16 Michigan/45 virus. All right.

17 I'm going to turn our attention to H3N2
18 viruses, moving just right past that title slide or
19 separating slide H3N2 to slide 20. Thank you.

20 This is now illustrating the H3N2 viruses
21 detected by GISRS, 2019 in red again. And you can see,

1 again, a peak towards the beginning of the year. But
2 if you remember that peak from the H1N1, this one peaks
3 a little bit later. That H1N1 peaked around week four,
4 and this one peaked around week seven.

5 If we move to the next slide, this shows our
6 activity globally, widespread outbreaks again in North
7 America and in South America, parts of Africa and
8 Eastern Europe, Asia, and quite a significant outbreak
9 -- I think everyone's aware of widespread activity in
10 Australia. If we move to the next slide, this is a
11 phylogenetic tree. This is a very dense phylogenetic
12 tree provided by colleagues at the University of
13 Cambridge. I like it because it's a high-level view.
14 I'll go into a little bit deeper level view.

15 It illustrates the various clades circulating
16 in the black portions of the tree itself, along with
17 the regions where the viruses are isolated, represented
18 by the heatmap on the right. So North America's in
19 blue. So, you can see a bunch of blue dashes at the
20 top left-hand column there that become more and more
21 pronounced as we move from late 2018 to 2019. I

1 apologize. You might not be able to read that. But
2 it's basically looking from a couple years back to more
3 recently. The very far righthand side are what are the
4 most recent viruses circulating by month. And then the
5 color-coding -- you can see the color-coding of the
6 world there on the lower left-hand corner.

7 So, the very top of this tree represents 3C3a
8 clade viruses, which you can see have been around for
9 quite a long time. They took a bit of a hiatus and
10 then reemerged very strongly during our season last
11 season. These are 3C3a viruses that emerged about --
12 to begin to really increase in November but really came
13 on strong in December, January, February in our season
14 last year.

15 Then we have down a little bit below that
16 group are the 2A2 viruses, represented by that black
17 bar on the left. You can see they were heavily
18 predominated a few seasons ago and have been reducing
19 over the course of time. So, you can see again the blue
20 representing United States, where they circulated.
21 They caused a big part of our season previously.

1 Then we have 2A1b viruses, which you can see
2 that tree how it looks. There are a few subgroups
3 within the 2A1b viruses, the primary subgroups being
4 some that have a 135K substitution. So, I've
5 illustrated that by this small blue line, which makes
6 up part of the large black line illustrating the 2A1b
7 viruses. And these you can see are more recently
8 circulating globally, the green in Europe, the orange
9 in Africa, Middle East purple, Russia, Southeast Asia,
10 et cetera, and Oceania.

11 And then we have a relatively new group of
12 virus. You can see they didn't exist in a time series
13 of the tree until we get to very recent months. These
14 are a subgroup of the 135K viruses that have three
15 additional substitutions, which I've listed there:
16 137F, 138S, and F193S. And those really only have
17 circulated in Asia and are very recent.

18 And then we have a 131K subgroup of the 2A1b
19 viruses, and those are at the very bottom of this
20 particular tree. And you can see they weren't
21 circulating early and have really started to increase

1 more recently and are dominating within the 2A1b group
2 globally. So, we've had -- an easier way to think
3 about this is a relative decrease of the -- within the
4 2A1bs, we've had a decrease of the 135Ks and an
5 increase of the 131Ks.

6 If we move to the next slide, this is
7 hopefully a little closer up view of the tree. Again,
8 it still represents a lot of sequences, but it's a tree
9 from our collaborating center to try to orient you with
10 some of the viruses that I'll be showing you data from.
11 So, the 2019/20 Northern Hemisphere vaccine virus was
12 name, and, as Dr. Weir said, it's a 3C3a virus called
13 A/Kansas/14/2017. So that's at the bottom of this
14 particular tree. These were at the top of the last
15 tree.

16 I apologize for changing the orientation of
17 the evolution. Again, they're color coded. The dots
18 on the tree are color coded by the geographic region in
19 which the viruses are circulating. So you can see,
20 primarily, 3C3a's in North America and Europe, for
21 example.

1 The Southern Hemisphere vaccine virus that
2 we're discussing today whether we should keep it or
3 change it is this A/Switzerland/8060 virus, which you
4 can see right in the smack middle of the tree. It's a
5 2A2 virus, which we now don't see very many viruses
6 circulating from this group. They've really continued
7 to decrease and been taken over by both the 3A and the
8 2A1 viruses, which are more towards the top of the
9 tree.

10 So those viruses I just described, 2A1b, 135K,
11 are the next in the series, and they're represented
12 here. Then we have 2A1b 131K viruses. At the base of
13 that group is this Iowa/60 virus. More partway up that
14 group is a Montana/18 virus. This has all the
15 substitutions that Iowa/60 has; plus, it has a Q197R
16 substitution.

17 And then the more evolutionarily advanced
18 virus is this Hawaii virus, Hawaii/42, near the top of
19 that tree. Hopefully you can see it on your slide
20 decks that you have personally. And those are 2A1b.
21 They're all 2A1b 131K viruses. Of course, the previous

1 Northern Hemisphere vaccine virus was a Singapore,
2 which is the black virus name right above the Southern
3 Hemisphere vaccine, the Switzerland virus. Okay.

4 We move to the next slide. This shows you the
5 global circulation of the H3N2 HA clades. So I want to
6 get a couple of points across with this slide. It's a
7 visual slide illustrating that, while there is multiple
8 cocirculating subclades of the H3N2 viruses, primarily
9 the major clades being 3C2a and 3C3a viruses. And then
10 within the 3C2a, there's all these various subclades
11 that I just walked through. So what you can see is, in
12 the Western Hemisphere, we have a lot more 3A viruses
13 and fewer 2A1b viruses. And where we do have 2A1bs we
14 have a mixture of 2A1b 135Ks and 2A1b 131K viruses.

15 And if we focus in on the southern portion of
16 that, South America, you can see there's -- like North
17 America, they do have quite a bit of 3C3a viruses, some
18 2A1b 135K viruses, and some 2A1b 131K viruses. As you
19 move east, you can see that Europe has a bit more of a
20 mixture and Africa with a lot more 135K viruses, as
21 well as 2A3 and 2A4 viruses, which are quite old

1 evolutionarily speaking. They don't really -- we don't
2 see them circulating in other regions of the world
3 right now for the most part. They certainly don't
4 dominate the proportion of viruses.

5 And then, as you move further east, we see
6 predominately 131 and 135K viruses. And Australia had
7 just a really strong amount of 131, whereas Asia saw
8 more 135K viruses. So, it's a geographically varying
9 group of viruses that are co-circulating. If I were to
10 show you this with the H1N1s, they would all be
11 basically very similar to each other. So, we move to
12 the next slide.

13 This is now showing the reactivity with ferret
14 antisera against the current recommended southern
15 vaccine -- Southern Hemisphere vaccine, the
16 Switzerland/8060 egg virus. The collaborate center
17 that did the testing is listed on the left, CNIC, for
18 example. So, there are 30 percent that are like that
19 and 70 percent that are considered low, which would be
20 eightfold or greater reduction in homologous titer.
21 The Crick -- only three percent considered like and 97

1 percent low. VIDRL, 13, so two percent considered like
2 and 98 percent considered low.

3 And this is in HI assays. And remember,
4 there's going to be regional differences on the viruses
5 that are being tested. So, these don't necessarily
6 represent differences in the assays done by different
7 groups. It more represents differences in the viruses
8 that are circulating in various regions.

9 Now here we're looking at the next slide, 26,
10 the H3 -- there we go -- the H3N2 reactivity like
11 versus low. Now, this is in virus neutralization
12 assays instead of hemagglutination inhibition assays.
13 As you've probably heard before, the H3 viruses no
14 longer agglutinate red blood cells efficiently, so we
15 have to use a little bit more cumbersome virus
16 neutralization assays for antigenic analysis.

17 These are not as high throughput, but they
18 provide strong data. So, we've switched, particularly
19 at the CDC, to primarily using these only. And again,
20 on the green side we're comparing against the cell
21 virus, and on the blue side we're comparing against the

1 egg virus.

2 So you can see the CDC, this one is 8060,
3 about 17 percent considered like and 83 percent low.
4 NIID in Japan, three percent like and 97 percent low;
5 and Melbourne VIDRL in Australia, 93 percent considered
6 like and seven percent low. With the egg, this is a
7 common trend where we see the egg antigen doesn't
8 induce immunity that cross protects against the
9 circulating strains as well.

10 And you can see that here where it moves --
11 like for the CDC, it moves from 17 percent like to only
12 nine percent like and 91 percent low. And for other
13 CCs, it's even worse where 100 percent are low, 94, 99,
14 98. Move to the next slide. This is --

15 **DR. JANES:** Dr. Wentworth?

16 **DR. WENTWORTH:** Yeah. Go ahead.

17 **DR. JANES:** Dr. Wentworth, this is Holly
18 Janes. Can I pop in a question real quick?

19 **DR. WENTWORTH:** Shoot.

20 **DR. JANES:** Following up on your comment about
21 whether or not these differences amongst the sites are

1 differences in the assays between the sites or
2 differences in the viruses that are selected and tested
3 at different sites. I'm thinking that they must be
4 more attributable to the differences in the viruses
5 across the sites because it seems that the
6 characterization of what a like response is versus a
7 low response is site specific. In other words, it's
8 based on comparing the responses to the vaccine virus.
9 Is that correct?

10 **DR. WENTWORTH:** That is correct. For the most
11 part, that is correct. So, of course you can see
12 differences in the ferret sera because we don't all use
13 the same ferret sera. So, there can be a lot of
14 variation. And when you pile all the data together
15 like this in a summary format, you may miss a few
16 things. But you've got the take home point which is
17 what I showed you previously with that phylogeography
18 illustrating the different phylogenetic groups of HA
19 and where they're circulating.

20 You can imagine that, if you have a lot of a
21 certain type of virus in your region and that's what

1 you're testing, it's going to skew the data in a way.
2 It's going to show that you're not reacting or reacting
3 better to the current vaccine virus. So I think both
4 things are factors, but the take home point is a lot of
5 these represent regional differences in the viruses
6 circulating, as you surmised.

7 **DR. JANES:** Thank you.

8 **DR. WENTWORTH:** All right. We move to slide
9 27. This is the Kansas/14 now. This is the Northern
10 Hemisphere strain recommendation, again, the cell in
11 green, the egg version in blue. And this actually
12 brings home that same point where if you remember, in
13 North America, we were seeing a lot more 3C3a-like
14 viruses. And you can see that 75 percent of them -- 78
15 percent of the viruses we've tested are considered like
16 the Kansas/14 and 22 percent considered low. And this
17 shifts a bit to 52 percent like and 48 percent low when
18 we use the antigen from egg cultivar.

19 CNIC is seeing a little bit different trend
20 where they are seeing less like, and they don't have
21 very many 3C3A viruses in China, and a lot lower. And

1 I won't walk you through each row, but you can see this
2 trend. And Crick is seeing more similar, so this is in
3 London -- a little bit more similar pattern to ours but
4 only 37 percent like and 63 percent low to the cell.
5 When we're looking for antigenic drift, we focus
6 primarily on this cell reactivity pattern.

7 And then we're of course interested in how the
8 vaccine virus that's used in most of the vaccines,
9 which is the egg virus, also performs. So, we're
10 always looking for two things: one, drift. Is there
11 enough drift to warrant a change in the vaccine? And
12 how well does the current vaccine virus cover all the
13 viruses that are cocirculating, no matter which group
14 they're in? Okay.

15 I've moved to the next slide. I think I'm
16 taking too long. Okay. The antigenic analysis of the
17 viruses -- now, this is getting to a detailed view of
18 what we are looking at. This is ferret antisera. I'm
19 going to take a little bit of time to walk you through
20 this. Nebraska/2 represents a clade 282 virus. This
21 is the qualified manufacturing cell seed candidate,

1 Nebraska/2. So, it represents the Southern Hemisphere
2 recommendation of Switzerland/8060 as a cell-based
3 antigen. You can see it has a very high homologous
4 titer, a great antigen of 2480. But this reacts very
5 well with 2A2 viruses but shows strong reductions
6 against all the other clades.

7 North Carolina/4 is the next virus down and is
8 the 2A1 virus. So, this is a rather older virus. This
9 was the cell candidate vaccine virus previously -- is
10 North Carolina/4. And it has a 2560 titer but, again,
11 a good reduction from the homologous titer. And I
12 won't belabor the current vaccine because it's very
13 clear we see strong reductions against all the
14 reference viruses that are in the various 2A1b
15 subgroups, as well as 3A viruses. And the test viruses
16 are eightfold or greater in general. And then we see
17 very strong reductions when we get into the 3A viruses.

18 If we move to the next column, this is the
19 North Carolina/4 antisera to that virus. The
20 homologous titer is bold and underlined, 2560. You can
21 see this virus reacts well with kind of both the 2A2

1 virus --even though those are antigenically distinct,
2 it does cross react well with those -- and covers all
3 these various flavors of the 2A1bs, 2A1b 131K viruses
4 such as the Iowa/60 or Arizona/45, as well as the
5 Wisconsin/327, which is a 2A1b 135K group virus, and
6 North Carolina/36, which is a 135K like virus. Where
7 you do see the reduction now in that is with the 3A
8 viruses, showing that they're very antigenically
9 distinct. So all these 2A viruses are relatively
10 antigenically similar when compared by ferret antisera,
11 and the major antigenically distinct virus is being the
12 3A.

13 Iowa/60 now represents the base of this 131K
14 group. And it has a homologous titer of 5120. Again,
15 it cross reacts with earlier progenitor viruses such as
16 North Carolina and further advanced viruses, such as
17 Wisconsin/327, which is a different group than the 135K
18 viruses, but doesn't cross react well with the 3C3a
19 viruses. And then when you look at the test viruses,
20 that same pattern holds true, and you can just kind of
21 scan down that column. We even have a few from, for

1 example, the Congo. So the top of that group are 131K
2 viruses, again, great reactivity with the homologous
3 antigens, and then the 135K groups. And then we have a
4 couple from Congo and Mali. Remember I showed you
5 Africa had some of the older viruses still circulating:
6 2A3 viruses. Again, those react okay with serum from a
7 131K virus, Iowa/60. And it's not until you get to the
8 3A viruses that you see these more dramatic reductions.

9 Arizona/45 is a qualified manufacturing cell
10 isolate that is very similar to Iowa/60 in its HA, and
11 it has a very similar pattern. Then we get to the 2A1b
12 135K sera. We have Wisconsin/327 and North
13 Carolina/36. Again, what you can see is this cross
14 reacts well with the 131K viruses, as well as just the
15 standard 2A1 viruses. It does not react well with the
16 3A virus.

17 And then if we look at the 3A antigen, this is
18 Indiana/8. This is sera from the seed for the
19 qualified manufacturing cell candidate for 3A viruses.
20 So, this is a Kansas-like virus. It's homologous titer
21 is 2560. You can see it has some reductions but not as

1 significant, I think, as the other way around with the
2 test antigen and then, of course, recognizes very well
3 the test antigens within the 3C3a viruses.

4 Then we move to the next slide. This is now
5 looking at FRA data from the Melbourne CC. Again, we
6 have a similar layout where we have the
7 Switzerland/8060 virus, both a cell and an egg pair.
8 The sera for those are shown in gold. And then we
9 have some 131K representatives, a 135K representative,
10 and some 3A viruses. And what you can see is that the
11 8060, again, has a high homologous titer here for the
12 most part and, particularly, the egg virus with a
13 10240. And we see significant reductions against all
14 the test viruses that are circulating. These are very
15 recent test viruses from Melbourne, so they hadn't
16 genetically characterized them well yet. But the
17 majority of them will be 131K viruses with, I think, a
18 few 3A intermixed in there.

19 Then we have Newcastle/82. So now we're
20 looking at a couple of the better egg performing
21 viruses compared with their cell pairs that were

1 available. Newcastle/82, which is a cell versus the
2 egg, in the next column with pink, and you can see
3 their homologous titer is 640 for the cell and how well
4 it covers all the test antigens circulating, with the
5 exception of some of those at the bottom, again, with a
6 pattern. So, for example, the Perth/1015 has an
7 antigenic pattern similar to what you would see with a
8 3A virus.

9 Also, South Australia/34 is another 131K virus
10 where there's an egg-cell pair. You can see the cell
11 homologous titer is 2560. The egg homologous titer is
12 5120. Of course, the cell reacts quite well with the
13 majority of the test antigens, and then the egg does a
14 little bit more poorly. But what you can appreciate is
15 the raw titer is fairly high. So, it's still like a
16 640 in many of the test antigens ranging from 160 to
17 640, really, in antigens likely in that group.

18 Then we have the SIAT 135K virus. This one is
19 the Victoria/653. Again, the cell antigen is 5120, and
20 it reacts well with most of the test antigens. This is
21 similar to what I showed you with the FRA previously

1 and Kansas/14 cell versus egg, the 1280 titer reacting
2 with a little bit more reductions than the 2albs.
3 Again, the majority of these test antigens being 2alb
4 131K viruses.

5 Move to the next slide. This is the antigenic
6 cartography. This is using data from the CC in
7 Atlanta, our FRA data, where Iowa/60, a cell version of
8 the virus -- of the 131K viruses -- where that is
9 positioned and all the viruses that are cocirculating.
10 In the red colors are 2A viruses and 2Alb, for example,
11 as well as a few 2A2s. And they're a little bit more
12 antigenically distinct -- versus the Kansas cell and
13 egg pair, which are the test viruses that are 3A are
14 the green dots. And the cell virus is the blue round
15 dot, and the egg virus is the blue oval.

16 If we move to the next slide, this is showing
17 cartography now from CC Melbourne by HI data using an
18 egg-cell pair, South Australia/34, versus the Kansas/14
19 egg-cell pair. And what you can see is a lot of the
20 viruses, again, the South Australia/24 is in a good
21 position here, right in the middle of the cell of the

1 major cluster of viruses that are circulating, a lot of
2 them being 2A1b with 131K, and the South Australia egg.

3 If we move to the next slide, now we're
4 looking at the human serology data against using the
5 egg as the 100 percent cutoff. So people were
6 vaccinated. And now we're looking at sera from
7 Australia. They were vaccinated with the
8 Switzerland/8060. And the reference antigen here that
9 we're comparing to is the Switzerland egg. And what
10 you can easily appreciate is all the test antigens that
11 are cell-oriented test antigens fall below that 50
12 percent geometric mean cutoff. It's very hard to
13 discern if there's any difference between, say, a 2A1
14 virus like Singapore/INFIMHCF cell virus, which is a
15 2A1, and those 2A1b viruses that have the 131K or 135K
16 or 3A. And what you can appreciate is 3A has some
17 reductions.

18 Both the last two columns have the more
19 significant reductions, and it'll be easier to see on
20 the next slide. When we compare to the cell, this is
21 slide 33. Now we're setting the reactivity to the cell

1 antigen at 100 percent, and you can see that the egg
2 goes up even higher than that because people aren't
3 immunized with the egg cultivar generally. The
4 Singapore 2A1 still has -- serum against the
5 Switzerland/86C is reacting but with reduced titers to
6 the Singapore 2A1 131K viruses and doesn't show a real
7 marked reduction until you get to this Hongkong/45,
8 which I didn't discuss very much previously except to
9 mention that we had that new group of Asian viruses
10 that have some additional substitutions, this S137F,
11 138S, and F193S. So that one being more antigenically
12 distinct by human sera, and the other one that falls
13 fairly low, particularly with the elderly, are the 3C3a
14 viruses. And you can easily appreciate that with the
15 serum from the elderly cohort.

16 We move to the next slide. The summary for
17 the H3N2 viruses is that there's regional heterogeneity
18 in the clade circulation, the 2A1b subgroups -- there's
19 many of them cocirculating. The subgroup 2A1b 131K
20 predominated in Oceania; whereas, the subgroup 135K did
21 in Asia. And we saw the emergence of this newer

1 subgroup, the 137, 138, and 193 substitutions,
2 beginning to increase in Asia. The 3C3a viruses are
3 primarily circulating in the Americas, and 3C2a2
4 viruses, which the current Southern Hemisphere vaccine
5 is a member of, have decreased markedly.

6 Antigenic characteristics with ferret antisera
7 illustrated that the 2A2 viruses poorly inhibited 2A1b
8 and 3A viruses; whereas, serum from 2A1b viruses poorly
9 inhibits 3C3a viruses, which clearly an antigenic
10 distinction between those two groups. However, the
11 2A1b virus subgroups are all quite antigenically
12 similar with ferret antisera. We did see some
13 reductions in 2A1b 135K viruses that also have the
14 S137S, 138S, and 193S. The 3C3a viruses are
15 antigenically similar to each other, and antisera to
16 3C3a viruses poorly inhibits the 3C2a viruses. So, we
17 see eightfold or greater reductions frequently with
18 those.

19 If we move to slide 35, the human serology
20 studies should illustrate the individuals vaccinated
21 with Switzerland/8060 vaccine viruses had reductions in

1 the geometric mean HI and virus neutralization titers
2 against both 2A1b and 3C3a viruses compared to titers
3 against the egg propagated virus. When we look at the
4 cell propagated as the comparator, reductions in the
5 geometric mean against 3A viruses were more pronounced
6 than most of the 2A1b viruses, with the exception of
7 the 135K group that had the additional substitutions.
8 Okay.

9 Now, we're going to transition to the
10 influenza B viruses. I will move a little more rapidly
11 because you all are onboard now. The 2A1b, we're going
12 to go to slide 37. This is illustrating the activity.
13 As I showed you very early on, we didn't see as much
14 influenza B activity -- local activities in many areas
15 around the world, some regional outbreaks in South
16 America and Africa and some in New Zealand. The number
17 of B viruses detected, if we move to the next slide, by
18 GISRS are illustrated here, again, the red line
19 illustrating what I just said. We really didn't see a
20 huge amount of influenza B circulation over the past
21 month.

1 We move to the next slide. This is the
2 antigenic characterization by CCs over the past three
3 reporting periods, again, the most recent being the
4 green illustrating where people have been able to
5 collect enough viruses to do a lot of antigenic
6 characterization, really significant amounts done by
7 CNIC in this timeframe, which is the China CDC. If we
8 move to the next slide, influenza B lineage
9 distribution and percentage. So, I had mentioned this
10 earlier, and this is a little more detailed view of it
11 where we saw about seven percent of the B viruses
12 circulating are the B/Yamagata lineage; whereas, 93
13 percent are B/Victoria.

14 The breakdown by region is shown on the right,
15 with Asia being very heavy in B/Victoria. North
16 America is a bit askew because we do a right size
17 program here where we actually ask for more of the
18 under-representative viruses in our surveillance
19 efforts. Oceania primarily B/Victoria, South America
20 more of a mix, et cetera. We move to the next slide.
21 We'll go specifically to the B/Victoria viruses. Move

1 to slide 42, next slide after that. Thank you.

2 Looking specifically at the B/Victoria viruses
3 now, we can break these down into three major groups:
4 the V1A viruses. These are the older viruses that
5 circulated for quite a long time. The V182 del
6 viruses, this is the next older virus group, and they
7 have two deletions in the -- amino acid deletions in
8 the hemagglutinin gene. And that did impact
9 antigenicity, and this is the group that was included
10 in the vaccine. Then we have the V183 del group, which
11 is emerging now to be more dominate and overtaking the
12 two del group. The breakdown by collaborating center
13 I'm not going to walk through, but, again, there are
14 some regional difference in the phylogeography.

15 If we move to the next slide, this is
16 illustrating some of that -- again, a large tree
17 looking at all the viruses circulating. And I'll try
18 to orient you to this. The older viruses here being at
19 the top of the tree, you can see that in the time
20 series to the right, the heatmap, and the newer viruses
21 being both the triple deletion and the double deletion

1 variants that are more towards the bottom. Like two-
2 thirds of the way down is the newest triple deletion
3 group and then at the very bottom is the double
4 deletion group.

5 What you can appreciate is there were triple
6 deletion viruses that evolved through parallel
7 evolution quite early on. However, these died out and
8 were overtaken by the double deletion group. And more
9 recently, we had this group of triple deletions that
10 are the two-thirds of the way down the tree that
11 evolved and more rapidly increased. And you can see
12 their global dissemination there, as well, by the color
13 coding. Again, the double deletion seen very heavily
14 in North America. You can see that's why you can see a
15 lot of the blue there, really evolving in the Americas
16 to start with and then spreading. All right.

17 Moving to the next slide, this is the like
18 versus low that you are now familiar with the color
19 coding, cell versus egg, green versus blue. Again, now
20 looking at the older vaccine virus, the Brisbane/60, we
21 have very few that are like, 81 percent considered low.

1 Trends are very similar by multiple collaborating
2 centers in this case. The egg variant has a similar
3 pattern, a little bit more significant reduction, so
4 increase in the number of those considered low.

5 The next slide, this is a Victoria-like versus
6 low now against the contemporary vaccine virus. The
7 double deletion virus called B/Colorado/6 is the like
8 virus that we want to name. And again with the CDC
9 where we're seeing a lot of double deletion variants,
10 78 percent are considered like, 22 percent considered
11 low. CNIC had a lot of reactivity with the double
12 deletion virus in their hands, even though they're
13 seeing considerable triple deletion viruses but some
14 reductions when they used the egg cultivar, down to 45
15 percent like and 55 percent low. Crick pretty similar
16 pattern with the CDC. Same with the NIID in Japan and
17 VIDRL in Australia.

18 We move to the next slide. This is going
19 right into the antigenic cartography to give you a
20 high-level view of what's going on. The Colorado, this
21 is the double deletion viruses, are shown in blue

1 there, the egg and the cell, oval versus round. And
2 the circulating double deletion viruses are kind of
3 that orange color. The triple deletion viruses are a
4 purple color. And then we have a cell candidate,
5 Washington/2 2019, that is a triple deletion
6 representative. And you can see how well antisera to
7 that corresponds in clusters with that group of
8 viruses.

9 To drill into the data with some more detail,
10 we can move to the next slide, slide 47. This slide
11 really illustrates the antigenic patterns that you can
12 see. You can focus first on the reference virus, the
13 older vaccine virus, Brisbane/60 from 2008, egg and
14 cell pairs. You can see a good homologous titers and a
15 good high homologous titer but then have significant
16 reductions with the V1A.1 and the V1A3 del viruses,
17 which represent triple deletion variants. Then when we
18 look at the V1A.1 virus, the more contemporary vaccine
19 virus, it has a homologous titer of 160 with four- and
20 eightfold reductions to viruses that are circulating.
21 That's the egg counterpart versus the cell, which is

1 this Iowa/6 virus. That has a homologous titer of 320,
2 again covering its group, the double deletion mutants,
3 which are circled kind of squared in blue, fairly well
4 as well as progenitor viruses like Brisbane/60 okay and
5 showing more reductions to the triple deletion virus
6 represented by the Washington/2.

7 And then the Washington/2 viruses are squared
8 purple where you can see, again, the egg with a
9 homologous titer of 320 and the cell with a homologous
10 titer of 640. Again, this is a pretty good egg-cell
11 pair. There were not significant reductions between
12 the two. And you can see they cover the viruses in the
13 V183 del group fairly well and don't react as well with
14 viruses in either the V1A.1, which have the double
15 deletion, or the progenitor virus, the V1A. Okay.

16 We move to the next slide. This is now
17 looking at human sera from folks that were vaccinated
18 with the Colorado/2-like virus. We're setting it at
19 100 percent with the Iowa/6 qualified manufacturing
20 cell vaccine virus as a cell version of the Colorado
21 egg. Colorado/6 egg is the next column over. So, we

1 can see in the different populations, the pediatric,
2 older adult, adult -- I mean older pediatric, adult,
3 older adult, and elderly. And again, the orange bars
4 represent serum from Australia, but they have the same
5 vaccine in this case. You can see that it's actually
6 quite good news. The Colorado egg is the immunogen.
7 Of course, when we set the cell to 100, we see good
8 reactivity across the board.

9 Now, comparing to a V1A virus, V1A.1 virus, an
10 older virus, a V1A/Maryland/27 also gets -- we see good
11 cross reactivity in those older viruses. And then the
12 newest groups of viruses, Louisiana/16, represents one
13 of the V1A three deletion groups, and Washington/2
14 represents the more recent three deletion group that
15 has really taken off. And you can see humans
16 vaccinated with the Colorado/6 antigen actually react
17 quite well to the newer three deletion groups, which
18 this contrasts a bit with what ferrets do, naïve
19 ferrets versus, of course, humans that have seen many
20 influenza Bs in their lifetime.

21 That pattern holds true across the different

1 age groups, so I don't think I'm going to walk through
2 it. I don't think there's anything to glean other than
3 to know the adults really probably will do better than
4 the pediatric in this case. But you can see pediatric
5 do pretty darn well.

6 Next slide, we're going to move into the
7 B/Yamagata viruses. I will be fairly brief here
8 because, as I mentioned, there hasn't been tons of
9 circulation of this virus. What you can appreciate, if
10 we're on slide 50 now, again, a very large phylogenetic
11 tree showing the distribution of viruses over time and
12 their evolution, the bottom of the tree being the most
13 recent. So, it's going from older viruses at the top
14 to the more recent at the bottom, generally speaking.
15 And then, you can see the most recent viruses by the
16 tick marks on the heat map, the far right.

17 And what we're seeing is a fairly monophyletic
18 evolution pattern where a lot of these viruses are
19 really very closely related to each other. That's why
20 you get these very flat vertical lines where there's
21 not as much branching. There's not a specific

1 geographic region where a particular subgroup is
2 circulating, for the most part.

3 If we move to the next slide, this is like
4 versus low in hemagglutination assays to the current
5 vaccine virus, B/Phuket/3073, again, the cell on the
6 left and under the green bar. Basically, 100 percent
7 of the CDC, 94 for CNIC, only 33 percent for Crick, and
8 the other two groups looking more like CDC and CNIC.
9 Then, when we look at the egg, Crick actually had a
10 little better path with the egg virus in that they see
11 pretty good protection with those viruses. And we see
12 some reduction by CDC, CNIC, and VIDRL, where we saw
13 instead of 100 percent reactivity, for example, for the
14 CDC, 67 percent considered like and 33 percent
15 considered low.

16 The next slide, on slide 52, this consolidates
17 a lot of HI data, which I decided not to walk through
18 because it looks very similar to what you've seen
19 before. From September 2018 to 2019, you can see the
20 viruses that circulated there are the yellow dots, and
21 they're really just directly overlapping with the blue

1 dots, which circulated previously from August 2017 to
2 August 2018. The Phuket cell is shown in the red
3 circle, and the Phuket egg is shown in the green. So,
4 you can see that that Phuket egg, again, is a little
5 antigenically different than the cell and pushed a
6 little further and ultimately explains the summary that
7 I just presented where we saw more reductions against
8 the egg sera than we saw against the cell sera.

9 If we move to slide 53, this is human serology
10 against Yamagata, looking only at the Australia panel
11 now in the adults and the elderly at the top versus
12 bottom sets of bars. The Phuket setting at 100 percent
13 to the Phuket cell cultivar. You can see we see pretty
14 good reactivity with more recent viruses
15 representatives that are circulating, along with the
16 current Phuket virus itself.

17 If we move to the next slide, which is 54,
18 this is a summary of influenza B in total. So, I have
19 two slides on this. In general, influenza B virus is
20 circulated at low levels globally. We saw a lower
21 proportion than A viruses except in very few countries

1 in Southeast Asia. We saw B/Yamagata/16, 88, and
2 B/Victoria/287 lineage viruses that cocirculated. So,
3 we shorthand those to B/Vic and B/Yam or B/Yam and
4 B/Vic respectively. The B/Victoria lineage
5 predominated, except in parts of South America.

6 Genetically, if we move to the next bit about
7 the lineage for B/Victoria viruses, the HA genes were
8 all clade 1A, but they're not homogeneous. Viruses
9 with deletions in the hemagglutinin predominates now,
10 with those -- with the 162 to 164 deletion now seen in
11 the majority.

12 Previously, we saw only the double deletion
13 variants, so 162, 163 in the majority. Ferret antisera
14 to the cell and egg propagated B/Colorado-like viruses,
15 which are the double deletion group, well inhibited
16 V1A.1, which are the two deletion group viruses, but
17 had reduced inhibition of either the three del or older
18 viruses that lack the deletion entirely. Ferret
19 antisera raised against the cell and propagated triple
20 deletion viruses, for example the Washington/2 virus
21 from 2019, well inhibited all the triple deletion

1 viruses out there that we tested.

2 And post-vaccination human sera to V1A.1
3 antigens, so again, the double deletion virus,
4 recognized representative viruses in the three genetic
5 and antigenic groups quite well. And that was on the
6 previous slide I showed you some of that -- or previous
7 couple slides for the Victoria lineage.

8 But B/Yamagata, if we move to slide 55 now,
9 group of viruses -- all these analyzed fell into clade
10 three. We're seeing a nice homogenous genetic pattern
11 there with not too much long branching. Most of the
12 recent viruses are well-inhibited by ferret antisera
13 against the current cell and egg propagated vaccine
14 virus, B/Phuket/3073, and post-vaccination human sera
15 to B/Phuket/3073-like antigens recognized
16 representative viruses well.

17 The recommendation, we're going to move to
18 slide 56 and then 57. Dr. Weir already covered this.
19 I'll just hit it again quickly that there was a
20 recommendation for the quadrivalent to contain
21 Brisbane/2/2018. This represents a change for the

1 Southern Hemisphere from the Michigan/45, but it's the
2 same recommendation as was made in the Northern
3 Hemisphere earlier this year. The South Australia/34
4 was a recommended H3N2 virus.

5 I showed you data from this antigen with
6 ferret antisera, both the cell and the egg pairs. So,
7 this represents a new recommendation for the Southern
8 Hemisphere, as well. It is in the 131K genetic group
9 and a new recommendation for influenza B, so the B
10 Washington/2/2019-like virus. This is this Victoria
11 lineage group, and it's the triple deletion virus that
12 I was describing. And both the egg and the cell pair
13 cover those viruses very well. The B/Phuket/3073 is
14 the B/Yamagata lineage recommendation. So that rec is
15 unchanged. For the trivalent, it was recommended that
16 they contain all the first three and lack the Phuket
17 Yamagata lineage.

18 And then I'll end by really acknowledging all
19 the WHO CCs: Beijing, Melbourne, London, Tokyo, and WHO
20 Geneva staff, all the GISRS, University of Cambridge
21 partners that you can see some of that cartography in

1 the large trees from, the Central Regulatory
2 Laboratories. All our partners in the United States,
3 particularly the Association for Public Health
4 Laboratories, U.S. Air Force School of Aerospace
5 Medicine, heavily known as USAFSAM. The Naval Health
6 Research Center, our fitness forecasting partners in
7 Europe and the United States and all of our staff here
8 at the CDC. With a special thanks to Rebecca Kondor,
9 Summer Galloway and Xiyan Xu, who really help with the
10 creation of the data package that we use. Thank you.

11 **DR. EL SAHLY:** Thank you, Dr. Wentworth. H3N2
12 seemed still to be the story. The B/Victoria has
13 changed to cover the triple deletion, although the
14 serology seems to be reassuring even in the older
15 vaccine. But predicting then the 2A1b would be the
16 predominate one in the Southern Hemisphere going
17 forward seems to be the major departure here.

18 I will begin with a question as the committee
19 members also are coming through online for questions.
20 Are we seeing, geographically, whole cocirculation
21 within the same geographic location, the 2A1b and the

1 3A at the same time in any of these?

2 **DR. WENTWORTH:** Thank you for the question.

3 Yes, as you surmised very well, the situation with the
4 H3N2s remains to be quite dynamic, and the clade
5 diversity at the global level is pretty dramatic. And
6 that's what I tried to show you. The question was
7 really are we seeing cocirculation of all these various
8 subclades in certain regions. And the short answer is
9 yes. I didn't get into some of this detail. But for
10 example, in Europe, there's about equal number of
11 viruses since February 1st until now.

12 So part of this is the data that we have,
13 where we have strong numbers, really ends at the end of
14 June and, in some cases, in July. So that's because of
15 collection dates and by the time they're sequenced, all
16 of that. So given that preface, since February 1st in
17 Europe, there's been basically equally numbers of 2A1b
18 131K and 2A1b 135K and only a little bit less 3C3a
19 viruses. In Central and South America, it was actually
20 quite a similar pattern. So those viruses -- there
21 they saw -- the majority of the viruses that they saw

1 in Central and South America were 3C3a, followed by
2 3C2a1b 135K viruses, followed by 2A1b 131K viruses.
3 And then they did see some 2A2 viruses, which are the
4 older viruses that are currently in the Southern
5 Hemisphere vaccine.

6 So, in some regions -- in Africa, they saw
7 quite a large distribution where they had 2A1b 135K
8 viruses predominate, followed by 131K and almost equal
9 with 3A viruses. And then they had some 2A3 viruses
10 and a very small number of 2A2 viruses. So again, the
11 2A3 and 2A2 being kind of earlier viruses in an
12 evolutionary sense and we're hoping will die. We don't
13 want to have to worry about them anymore.

14 But really the major trend is there's
15 cocirculation of 3A and the 2A1b viruses. Whether you
16 pick the 131K flavor or the 135K flavor is different in
17 different regions. And certainly the 3A, where it is
18 cocirculating most heavily is in North America, South
19 America and, to a lesser extent, in Europe and not
20 really being seen in Asia or Oceania to any great
21 extent. And finally, Oceania really saw only 131K

1 viruses.

2 **DR. EL SAHLY:** Mm-hmm. And within both
3 regions, I know you may not have the answer to this,
4 but extrapolating from our experience here, sometimes -
5 - I think a couple years ago we had H_vN₂ prevalent in
6 Texas by H₁N₁ across the border in Mexico. So even
7 within these larger plots of lands really, is there,
8 for example, predictability in certain populations,
9 with the understand that this may not affect the
10 recommendations when we're looking at the big view of
11 this? But do we see that also at a smaller regional
12 level, as well, or is that not something your group
13 delves into?

14 **DR. WENTWORTH:** Well, we do look for it. The
15 problem is we don't see it. There's not a --
16 sometimes, even within the United States, we can see
17 regional differences between states. For example,
18 we've seen Pennsylvania have a really wide array of
19 cocirculating subclades; whereas, Georgia may have very
20 dominate subclade. And it doesn't hold true year after
21 year, so it seems to be very season dependent. And we

1 haven't found an age group that's so importantly
2 predictive.

3 I think one of the things about the H3s in
4 particular is they're cocirculating subclades typically
5 have some distinction from each other and are almost
6 equal in fitness, evolutionary fitness. So, it's hard
7 to say which one would actually do better than another.
8 What you can more easily say is that multiple groups
9 will cocirculate. And that's partly why I spent a
10 little bit of time on the Iowa/60 antigen because that
11 represents a 131K virus at the base of the 131K group.
12 However, it cross protects against basically all of the
13 2A viruses.

14 So, if you just make the 2A viruses a
15 supergroup, you could say, really, there's only going
16 to be 2A and 3A that circulate in the United States,
17 for example, 3A being in our current vaccine and 2A
18 viruses being a potential new and old emerging group.
19 For example, the 2A1b 131K viruses actually circulated
20 last season in the United States early in the season.
21 But then we had really primarily H1N1 viruses at that

1 point in the season. But the H3s that we had were 2A1b
2 131Ks. However, they got completely displaced by the
3 3A viruses.

4 **DR. EL SAHLY:** Yeah. Okay. Thank you, Dr.
5 Wentworth.

6 **DR. WENTWORTH:** So, part of the vaccine
7 selection isn't just about what will circulate but what
8 antigens will protect against wider groups, as well.

9 **DR. EL SAHLY:** Understood. Thank you. I
10 would like to invite my committee colleagues if they
11 have questions to Dr. Wentworth before we break.

12 **DR. WHARTON:** This is Melinda Wharton. Can I
13 ask a question?

14 **DR. EL SAHLY:** Of course.

15 **DR. WHARTON:** Thanks. David, that was great.
16 I know that the topic for our discussion today is the
17 Southern Hemisphere strain selection. But having a
18 change in the H3N2 as proposed by WHO at the beginning
19 of the Northern Hemisphere influenza season does raise
20 questions about what we're seeing now and to what
21 degree we expect a good match with H3N2 with U.S.

1 circulating strains. I know this is a little off
2 topic, but is that something you could comment on?

3 **DR. WENTWORTH:** Sure. I can comment on it. I
4 think you know as well as I do we have a lot of trouble
5 predicting what group of virus will be in our season,
6 even at this stage. Right now, we don't have really
7 any viruses that have come in early in October. The
8 inter-seasonal viruses that we see over the summer are
9 terrible predictors. We know that. A lot of those
10 come in via travel to other locations and don't
11 necessarily predict our season.

12 So as far as which group cocirculates, that's
13 also not a great predictor. I think that we picked,
14 for a vaccine for the Northern Hemisphere, the most
15 antigenically distinct viruses circulating. So in the
16 Northern Hemisphere, so U.S., Europe, and other
17 locations in the Northern Hemisphere, we have seen
18 repeated infections, national infections with 2A
19 viruses, so that 2A supergroup. We've seen it since
20 2014 with Hongkong, that virus that was a vaccine
21 antigen. So, it's been in three vaccines for the

1 Northern Hemisphere, the 2A viruses, so just small
2 updates to the 2A viruses. Whereas human sera against
3 the 2A viruses cross protects against virtually all
4 those with quite equal levels. Where we saw the
5 reductions with human sera was in 3A viruses. These
6 reductions are even more striking between naïve
7 ferrets.

8 So, one of the issues is the more
9 antigenically distinct virus of the two potentials that
10 are going to cocirculate, 3A viruses 2A and whatever
11 flavor of 2A1 you want to get into, is the 3A. So, it
12 represents a more antigenically distinct vaccine virus.
13 Why it wouldn't be chosen for the Southern Hemisphere
14 six months from now would be we aren't seeing a
15 continued increase in that virus geographically. It
16 hasn't really spread beyond South America.

17 So, it spread from North America into South
18 America more, but the anticipation is, six months from
19 now, will that continue to spread east? Or are the 131
20 and 135K viruses, this 2A group -- the supergroup 2A
21 has been more successful than the 3A over the past five

1 years.

2 And then on top of that, the 131K vaccine
3 virus cross reacting very well -- the cell version
4 cross reacting with a lot of the circulating strains,
5 whether or not they were older 2A1 viruses or the new
6 2A1b 135K or 2A1b 131K. So, I hope that addresses your
7 question. I think the thing we can say pretty safely
8 is we'll probably have a mixed season. We may not have
9 much of an H3 season, which would be great, given that
10 we've had quite a few H3 seasons recently.

11 **DR. WHARTON:** Okay. Thank you.

12 **DR. MEISSNER:** I'd like to ask you a question.
13 First of all, thanks for that presentation. Enormous
14 amount of data and you presented it very clearly. And
15 I appreciate that.

16 **DR. WENTWORTH:** Thank you.

17 **DR. MEISSNER:** The question I have is you show
18 quite a bit of data comparing the serologic response to
19 cell vaccines versus egg-based vaccines. I guess it's
20 important to present that to address the question of
21 whether, when an influenza strain adapts to growth in

1 an egg, does that change some of the important
2 antigenic characteristics. But have you -- it seems as
3 though comparing the responses varies largely depending
4 on the strain. But what's your personal thought about
5 the, based on what you presented today, on the relative
6 role of cell-based and egg-based vaccines?

7 **DR. WENTWORTH:** Oh, boy. So, I think that I
8 did spend a bit of time on that, and there's two
9 reasons for that. One I tried to allude to. In order
10 to look at antigenic drift in a very specific way, the
11 best-case scenario is to compare a cell antigen with
12 the current viruses that are circulating. So, for
13 example, one of the reasons we would update the vaccine
14 is if there's enough significant antigenic drift to
15 warrant the update.

16 And then the reason for comparing the egg
17 version of it with those currently circulating viruses
18 is a little different. It's not to look at antigenic
19 drift because that may be misleading if we used an egg
20 antigen to look for antigenic drift. But it's to look
21 to determine if that egg antigen that was selected as a

1 vaccine candidate at one point in time is showing a
2 greater reduction than it had when it was originally
3 named as the vaccine candidate. You may recall, for
4 example, the Singapore update was primarily to improve
5 the egg vaccine and not necessarily because of
6 antigenic drift that was significant. So, there's that
7 piece.

8 Certainly, the cell antigens -- the jury's
9 still out on how much the cell versus the egg matters
10 because, of course, we haven't had a cell-based vaccine
11 go into a lot of people until recently. So, there's
12 that piece where we won't really know exactly until we
13 have some more seasons under our belt. Certainly from
14 a very hemagglutination inhibition or focus reduction
15 assay, like a virus neutralization assay, the cell-
16 based antigens have better breadth. They cross protect
17 against more cocirculating variants better, and you
18 would perceive that they would last longer as a vaccine
19 virus in a more similar way to what you see with H1N1s
20 or something like that. So, they do have this kind of
21 better breadth.

1 Whether or not that translates when we get the
2 right among of antigen in the vaccine and immunize
3 people I think remains to be determined because a lot
4 of -- I think one of the things people forget, because
5 we get very focused in on this match, this optimal
6 match, is that a lot of what the vaccine does is
7 stimulate memory to either natural infection or prior
8 vaccination. So, if an immunogen is really good at
9 being a stimulating immunogen, it could be a very
10 effective immunogen, regardless of it was recumbent in
11 cell or egg. I'd invite Dr. Weir to comment if you
12 want or other folks at FDA. There's a lot to it.

13 **DR. WEIR:** I can only add one thing. First of
14 all, I thought your explanation was really good. But
15 even the data that you presented today showed that, for
16 example, the human serology data doesn't always
17 distinguish egg and cell isolates in the same way that
18 the ferret data does. And again, I think you alluded
19 to this. The actual effectiveness of a vaccine may not
20 always track with these differences either. So, it's
21 just a very complex situation.

1 **DR. MEISSNER:** Can I ask you a follow up
2 question, both of you? You said that you're using less
3 hemagglutination inhibition assays and more
4 neutralization. But isn't a neutralization titer more
5 likely to reflect what happens in a human because it
6 really includes not only HI but neuraminidase? It's
7 more of a global assessment of vaccine responses. Is
8 that not true?

9 **DR. WENTWORTH:** So, to a little bit of an
10 extent that's true. But basically, if you HI, you
11 neutralize. So, if an antibody is capable of HI-ing,
12 it will neutralize because really what the HI is
13 showing is it's blocking virus receptor interaction.
14 Virus nudes really show the blocking of virus receptor
15 interaction and, to a much more limited extent, maybe
16 fusion of the virus particle with the cell. If done
17 correctly, they NA shouldn't be a big part of this
18 assay. But you may be right that some antibodies to NA
19 can interfere in both cases, both the HI and the nudes.
20 And we know that to be true when we get certain
21 neuraminidase substitutions that occur when we

1 propagate the virus in vitro. So, we look very closely
2 for those and don't use viruses like that in our assays
3 because they kind of confound the data. But generally,
4 they're very correlated, the virus nude and the
5 hemagglutination inhibition. You could draw a line --
6 very correlated.

7 **DR. MEISSNER:** Thank you.

8 **DR. WENTWORTH:** You could think of virus
9 neutralization, like you said, is a little bit broader
10 way to define how well an antibody can block virus from
11 getting into a cell. But the primary reason we use it
12 for H3s is because they no longer bind the receptor
13 efficiently, so then it's pretty darn easy to
14 neutralize them when they don't bind. So, in the red
15 blood cells that we have available to use that's part
16 of the issue.

17 **DR. EL SAHLY:** Any additional questions from
18 the committee members?

19 **DR. MEISSNER:** I have one more question.
20 Could we go back to slide 12? Is that showing the
21 structure of the hemagglutinin molecule on an A strain?

1 Is that what we're looking at there?

2 **DR. WENTWORTH:** Yeah. So, you're looking at
3 the HA monomer, so solved by crystal structure. I
4 should have explained this more. I apologize. The
5 reason I show it here -- we look at it for all of them,
6 for all the subtypes and lineages. But I just didn't -
7 - for purposes of time, I didn't put it in all of them.
8 Particularly when we don't see good differences by
9 ferret antisera, it's nice to understand where the
10 changes are in the molecule and even the particular
11 amino acid substitution. Is it a more significant
12 substitution or is it like a leucine to an isoleucine
13 or something like that where it would be maybe less
14 significant in a place that doesn't matter?

15 In this case, you're looking at the key
16 differences that define this 6B1.A group, which are the
17 S74R, the I295V, and the S164T. And then the 183
18 substitution is pointed out because it's right there up
19 in the head where we do see a lot of immunodominance,
20 more in humans than in ferrets, for example. And
21 ferrets, as you can tell from the data, they don't

1 really recognize this change. But it's partly there to
2 just illustrate that these substitutions are surface
3 exposed. And they're in regions that we know are
4 important to epitopes.

5 **DR. MEISSNER:** So, does that show us the stock
6 as well as the head or it's only part of the HA
7 molecule?

8 **DR. WENTWORTH:** No, it's the whole HA monomer,
9 so it's showing the head and the stock. Sometimes you
10 can see the stock color coded differently. In this
11 particular case, we haven't. But if you look at the
12 righthand side of that figure where at the base it says
13 6B1.A, right there, that very bottom amino acid that
14 you can kind of point to, you can imagine a couple more
15 amino acids below that. And then it would go into the
16 viral membrane because that's peeled off when we do
17 the crystal structure.

18 So, you don't see a little bit of the very
19 bottom of the stock where it would attach to the virus
20 and then go through the membrane. It even has a tail
21 that goes into the inside of the particle. But then if

1 you follow that up to almost a little bit more than
2 halfway, that's about where the head begins that you
3 typically hear talked about.

4 **DR. MEISSNER:** Yup. And the question I had
5 then is, when people talk about an improved influenza
6 vaccine, the idea is that there may be a conserved
7 epitope on the stock that's more constant or is
8 conserved. The sequence is conserved. But it's clear
9 there are still changes that occur in the stock, as
10 well as the head.

11 **DR. WENTWORTH:** Yeah. That's correct. They
12 tend to be more conserved because the stock has this
13 function of being very involved in the fusion event, so
14 it has to unfold at the right PH and create this six-
15 helix bundle that then helps the virus fuse. So, it
16 has this functional role that, when you start making
17 substitutions in, could impact the PH of fusion, for
18 example, which can be very important in how well the
19 virus transmits amongst humans. So that's -- one of
20 the reasons it's a good target is for that
21 conservation. But it does change. If you look at the

1 stock from 1968 'til present, you'll see amino acid
2 substitutions in that region, even in the regions
3 proposed to be great producers of stock antibodies.

4 **DR. MEISSNER:** Thanks.

5 **DR. KURILLA:** Yeah. David, if you could just
6 go one slide ahead to 13, I just wanted to ask one sort
7 of general question. So, it seems that when you're
8 making this decision of what the flu vaccine
9 composition is going to be, it seems you've got two
10 questions to address. The first is do we need to
11 change? And then if we do need to change, what do we
12 change to? So, if you look at the first question, is
13 this the data, on slide 13, that you're really making
14 the decision that we need a different antigen in the
15 mix because the one we used before is not covering the
16 circulating strains? Is that a correct interpretation
17 of how you used this data?

18 **DR. WENTWORTH:** Well, in some cases, that's a
19 correct inter -- in this particular case, it's an
20 incorrect interpretation because you can see, against
21 the Michigan/45, 95 percent are reacting as like or the

1 lowest by any center being 87 percent reacting as like.
2 So that would be considered a pretty good situation.
3 It's just that we and others now appreciate very well
4 that the ferret, when you immunize with an H1, really
5 focus in in an immunodominant way to a region on the
6 molecule that really isn't evolving in humans. So,
7 it's in this region where I mentioned we call it the
8 153 to 157 corridor.

9 So, in that region of the hemagglutinin, the
10 ferrets really -- any time you have a mutation there,
11 ferrets will recognize that very well. It'll reduce
12 the titer, and it wouldn't be considered like. And
13 that's partly the reason why we spent more time with
14 human sera and on things like what's the evolutionary
15 pattern that we're seeing. For example, the 183P, all
16 that parallel evolution where basically now 90 percent
17 of the viruses have this amino acid substitution even
18 though seven of them got there via a different route, a
19 different evolutionary pathway.

20 **DR. KURILLA:** Are you saying slide 17, then,
21 is where you're really saying, "Gee, we've really got

1 to think about a different immunizing antigen?"

2 **DR. WENTWORTH:** Yeah. In this case, slide 17
3 provides very powerful data illustrating that that 183P
4 -- like if you just don't focus on all those individual
5 viruses, if you can look at, say, for example, the
6 second column, Michigan/45 egg and then the next column
7 over, that's the very minimalist virus with the fewest
8 changes from Michigan. But it does have that 183P, and
9 you can see boom. There's a drop below the 50 percent
10 geometric mean.

11 And it's much easier to see, generally, in the
12 pediatric population because they haven't been exposed
13 to so many H1s in their life. And most of what they've
14 seen are Michigan/45-like or California/7-like viruses.
15 So that combined with the genetics and other human sera
16 data where we look specifically at individuals help
17 drive the decision for H1s.

18 **DR. KURILLA:** Okay. Thanks.

19 **DR. EL SAHLY:** Okay. Hi, everyone. This is
20 Hana again. So we will have another opportunity at
21 discussing and asking questions after the break, during

1 which discussion, voting, and recommendations will be
2 made. So we would like to have the break right now.
3 This is scheduled for 45 minutes. So, we will
4 reconvene at 1:00 p.m. Eastern Time. Thank you.

5

6

(BREAK)

7

8

OPEN PUBLIC HEARING

9

10

[NO REQUEST FROM THE PUBLIC]

11

12

DISCUSSION & RECOMMENDATIONS

13

14

DR. EL SAHLY: It is 1:00 p.m., so we can

15

start with the roll call and then see if all of the

16

members are back or not.

17

MS. HUNTER-THOMAS: Okay. Let me just confirm

18

that the webcast is up and running again, and then

19

we'll get started. Okay?

20

DR. EL SAHLY: Okay. Thank you.

21

MS. HUNTER-THOMAS: Thanks.

1 **DR. BOLLINGER:** Serina, this is Lisa
2 Bollinger. We can see the webcast on our computers.

3 **MS. HUNTER-THOMAS:** Oh, thank you, Lisa.
4 Thank you.

5 **DR. SPEARMAN:** Yeah, Serina. We can see it.

6 **MS. HUNTER-THOMAS:** Great. Great. Thank you.
7 Okay, so, we'll go ahead and proceed with the roll
8 call.

9 **DR. EL SAHLY:** Okay. Welcome back, everyone.
10 Dr. Hayley Gans?

11 **DR. GANS:** Here.

12 **DR. EL SAHLY:** Dr. Holly Janes?

13 **DR. JANES:** I'm here.

14 **DR. EL SAHLY:** Dr. Michael Kurilla?

15 **DR. KURILLA:** Here.

16 **DR. EL SAHLY:** Dr. Cody Meissner?

17 **DR. MEISSNER:** I'm here.

18 **DR. EL SAHLY:** Dr. Paul Spearman?

19 **DR. SPEARMAN:** Yes, I'm here.

20 **DR. EL SAHLY:** Dr. Geeta Swamy?

21 **DR. SWAMY:** Here.

1 **DR. EL SAHLY:** Mr. Sheldon Toubman?

2 **MR. TOUBMAN:** Here.

3 **DR. EL SAHLY:** Dr. Melinda Wharton?

4 **DR. WHARTON:** Here.

5 **DR. EL SAHLY:** Dr. Tammy Beckham?

6 **DR. BECKHAM:** Here.

7 **DR. EL SAHLY:** Dr. Steven Pergam?

8 **DR. PERGAM:** I'm here.

9 **MS. HUNTER-THOMAS:** And Hana, if I could
10 request real quick, Dr. Pergam wasn't with us this
11 morning. So, if he can do a quick introduction and
12 association of his affiliation for the benefit of the
13 group.

14 **DR. EL SAHLY:** Yeah, that's fine.

15 **DR. PERGAM:** Sure, I'm happy to do that. I'm
16 Steve Pergam. I'm an associate member at Fred
17 Hutchinson Cancer Research Center and an associate
18 professor at University of Washington. I serve as the
19 Infection Prevention Director at the Seattle Cancer
20 Care Alliance here, so I'm really looking forward to
21 looking to working as a group.

1 **MS. HUNTER-THOMAS:** Thank you, Dr. Pergam. If
2 you will, Dr. El Sahly, I just want to check and make
3 sure that I heard Lisa Bollinger; Dr. Bollinger is on
4 because she confirmed the webcast. And Dr. Wentworth,
5 he's on.

6 **DR. WENTWORTH:** Yes, I'm here.

7 **MS. HUNTER-THOMAS:** Okay. Perfect. Okay.
8 Handing it back to you, Dr. El Sahly. Thanks.

9 **DR. EL SAHLY:** Okay. Thank you all for
10 returning to the call. This morning, we heard an
11 extensive presentation of the genetic and antigenic
12 diversification of the various flu strains from a
13 global perspective in an attempt to vote on the
14 selection of the flu strains for the Southern
15 Hemisphere flu vaccine. We heard a few questions from
16 a few committee members earlier today, and I would like
17 to begin by inviting the committee members to ask
18 additional questions or provide additional comments.

19 **DR. SPEARMAN:** Hi, this is Paul Spearman. I
20 was hoping to ask one. Thank you for that really
21 interesting and complex picture and analysis that is

1 done on the flu strains.

2 What I'd like to know, maybe in helping
3 understand this process in the future, when you look at
4 the geographic distribution, or instance, (phone audio
5 cuts out) -- very geographic distribution, do you look
6 back over time as to how these clades are evolving in
7 geographic space and use that in any way to help with
8 strain selection? Or is it purely based on the most
9 recent identified strains that you very carefully
10 described to us and their antigenic specificities?

11 **DR. WENTWORTH:** Thank you for the question.
12 In general, one of the things the CDC does is we do
13 look back in time. We're constantly looking for some
14 kind of cues that would help forecast with fitness
15 forecasting better. Just to get directly to the strain
16 selection process, we don't discuss that very much
17 during the strain selection process. Part of the
18 rationale of that is that we don't see patterns.

19 I can give you a specific example. A lot of
20 people like to use Australia to say well that would
21 predict what happens in North America, right? But

1 Australia has a very good surveillance system, and this
2 is one of the reasons why we like the data, right? But
3 on the other hand, the past three seasons, the clade
4 that predominated in Australia didn't predominate in
5 the U.S. in H3, for example, where we have the most
6 clade dynamics happening.

7 So, that doesn't work. And then if you take
8 whole regions as a whole, you can kind of
9 overgeneralize and say, well, Southeast Asia and Oceania
10 kind of help forecast us or Northern hemisphere, and
11 the Northern hemisphere helps to forecast the Southern
12 hemisphere. But within H3N2 we don't see specific
13 trends. We are drilling into it in a lot of different
14 aspects using different statistical approaches to try
15 to understand this.

16 But that's as far as I can tell you. We're
17 not seeing the trends; we do look for them. And I
18 think there was a question about age group earlier.
19 We're trying to slice the data by age group as well to
20 see if there would be maybe an age group and a region
21 that would help to give you an idea of the virus that

1 would be the most fit.

2 **DR. SPEARMAN:** But the dominant strains in the
3 Southern hemisphere that we're seeing aren't
4 necessarily what we're going to be seeing in the
5 Northern hemisphere in the next season. Is that right?

6 **DR. WENTWORTH:** Well, I think when you say the
7 entire Southern hemisphere, you couldn't be correct.
8 Right? Because, as I showed you in the geographic
9 overlay, in South America, we see a lot of 3C3A
10 viruses. And we don't see very many 3C3A viruses in
11 Oceania, for example. So, I think it's more regional
12 than a Southern and a Northern hemisphere, right?
13 There's more human back and forth and more goods back
14 and forth the closer people are together. So, some of
15 it's, I think, that.

16 The question really for us, in the Northern
17 hemisphere and in North America, is will we see what's
18 in South America push back up to North America as the
19 season progresses? In which case we would have quite a
20 bit of 3A because that was their predominant virus.
21 But it could be that we were ahead of South America

1 last year and the virus that we had moved south, right?

2 So, you have both of those things happening.

3 **DR. SPEARMAN:** Thank you. That's even more
4 complex than I thought.

5 **DR. BOLLINGER:** This is Lisa Bollinger. Can I
6 just ask one question about predicting the way that the
7 virus is going to spread and potential strains that we
8 would target? And that is, in today's big data and AI,
9 is there any possibility to put the historical data
10 into some sort of model that would allow us to predict
11 the strains for the coming years?

12 **DR. WENTWORTH:** Well, yeah. This is done by
13 quite a few predictive modeling groups. We work, for
14 example, very closely with Trevor Bedford and Richard
15 Neher around the Nextstrain, Nextflu site. They are
16 contributing to the vaccine consultation meeting now on
17 a regular basis, as well as another group led by Martha
18 and Michael -- I'm blanking on his last name. But that
19 group as well.

20 What happens is, just to answer your question
21 more specifically, when you build a model based on

1 historical data, you can predict correctly that
2 historical data. For example, you predict the
3 emergence of 3C2A viruses in 2014-15 season. So, you
4 build this model that can predict that based on all the
5 historical data and it does that well. But as soon as
6 you go into the out years where there wasn't training
7 data for it, it doesn't do very well. So, there's a
8 lot of groups working on this. It's just that the
9 models really aren't very predictive.

10 **DR. BOLLINGER:** Thank you.

11 **DR. PERGAM:** David, this is Steve in Seattle.
12 Just a question, I know there's some emerging interest
13 in looking at the neuraminidase antibodies. Is there
14 any work that's being done to sort of use these as
15 secondary evaluations in terms of vaccine efficacy?

16 **DR. WENTWORTH:** Okay. Yes. There are
17 multiple approaches. For the NA, I really didn't spend
18 much time on the NA here because we weren't seeing huge
19 changes in the NA. They were pretty similar, so I just
20 left it out. But we are always looking at the NA. We
21 look at it more now than we have in the past. In fact,

1 members of the FDA specifically look at the -- so we
2 look at the evolution of the NA and then we also look
3 at the antigenicity of the NA. Antigenic analysis of
4 NA is actually more complicated than antigenic analysis
5 of the HA.

6 A team in the FDA, led by Zhiping Ye, actually
7 looked specifically at the antigenicity of the NA and
8 they didn't see evidence of drift in this particular
9 case. With regards to VE, it's even more complicated
10 as to how the NA will impact the vaccine estimates.
11 Because typically, the vaccine virus -- you'd have to
12 look for an area where you have two different vaccine
13 viruses, one with a different NA than the other.

14 So, right now, for example, the biggest
15 substitution in the NA was at position 245. This added
16 a glycosylation site that looks like it really impacts
17 antigenicity. That is virtually in all of the
18 neuraminidases now circulating, even the neuraminidases
19 in the 3C3A group and the neuraminidases in the 3C2A
20 group.

21 **DR. PERGAM:** I appreciate that.

1 **DR. JANES:** Dr. Wentworth, this is Holly Janes
2 in Seattle. I think I saw in the WHO summary and
3 recommendation document that there's been some in vitro
4 testing of susceptibility of the circulating viruses to
5 existing antivirals. And if that's so, can you kind of
6 summarize what's known there, I guess more broadly on
7 efforts to collect clinical outcomes associated with
8 the circulating viruses?

9 **DR. WENTWORTH:** Yeah. I was contemplating
10 whether to include all the antiviral stuff in this
11 presentation. But I'm going to just summarize it very
12 briefly. We saw very few viruses that were resistant
13 or were not susceptible to the antivirals. We did
14 document it in the WHO recommendation as to how many in
15 each group -- the H1, the H3, the Bs. So, for specific
16 data, I really urge you to go there. I don't have it
17 handy. It's just a very small percentage. We're not
18 seeing any trends of any increasing neuraminidase
19 resistance.

20 We also are now looking at Baloxavir
21 sensitivity by two methods. One, sequence analysis

1 within the five-prime end of the gene or the amino
2 terminal end of the protein, to look specifically for
3 mutations. One of the classic mutations is at position
4 38 with a PA. So, we look that way. And then we also
5 take anything that has substitutions there and do
6 phenotypic testing. Here at the CDC we're doing that,
7 and at the Japan collaborating center they are also
8 looking at that.

9 So, all of that is being done concomitantly
10 with the antigenic analysis that's ongoing. If you had
11 a specific question, I can certainly address it.

12 **DR. JANES:** Great. That's great to hear. Are
13 there ongoing efforts to assess whether there are any
14 differences in morbidity associated with the various
15 circulating viruses? I don't remember that we've been
16 shown data to that effect. Is that an ongoing
17 expanding efforts?

18 **DR. WENTWORTH:** Yeah. So, we do track, here
19 at the CDC and at the other collaborating centers, a
20 couple of different things. There are some studies
21 that are more based in SARI surveillance, so more

1 severe disease surveillance. So, there are specific
2 sites around the world where SARI is one of the primary
3 ways that they get their samples in.

4 And then, in the United States, which I can
5 speak more definitively about because I know all the
6 data very closely, in the specimen submission form,
7 we're requesting mostly -- you know, anytime there's a
8 fatal outcome we usually get that virus in, for
9 example. We also know whether or not there's
10 hospitalization or fatal outcomes in most of our
11 surveillance, as well as most of it comes through non-
12 severe outcomes. So, through just typical clinical
13 presentations.

14 But we do know those particular cases where
15 they have resulted in fatalities and severe disease.
16 So we're always looking at those to see if there's any
17 sort of grouping genetically. And of course, it's
18 easiest for us to see in the hemagglutinin because we
19 spend an awful lot of time there. But now we do
20 complete genome sequencing on almost all of the viruses
21 that come in.

1 So, we do, over time, look for associations
2 with the other genetic components as well. But
3 typically, it's rare to see a smoking gun in the virus
4 because humans are so different from each other.
5 Often, we'll see nearly identical viruses in a severe
6 case as we do in a non-severe case.

7 **DR. JANES:** Um-hm. Okay. Thank you.

8 **DR. KURILLA:** David, your analysis is based,
9 it seems, exclusively on humoral immunity and even a
10 subset of that, because you're really not evaluating
11 something like ADCC.

12 **DR. WENTWORTH:** Correct.

13 **DR. KURILLA:** So, the question is, is this the
14 approach because you don't feel cell-mediated immunity
15 is as important, or that it's technically just beyond
16 your capability to evaluate?

17 **MS. HUNTER-THOMAS:** Who was that that was
18 speaking? Sorry.

19 **DR. KURILLA:** Mike Kurilla. Sorry.

20 **MS. HUNTER-THOMAS:** Thanks.

21 **DR. WENTWORTH:** Well, I think it's

1 multifaceted is the answer to that. Historically, we
2 know that neutralizing the virus from infecting is a
3 great way to protect people. So, a good neutralizing
4 titer is a correlate of protection.

5 With T cells, certainly we want to begin to
6 evaluate more their impact. It's not really beyond our
7 capability to evaluate; you wouldn't be able to
8 evaluate it, I think, at the level that we evaluate
9 antigenicity, like, the numbers. But because of all
10 the genomics being conducted, you could identify
11 subsets and do more T cell work based on those subsets.
12 Right?

13 So, this is certainly an area, and it may be
14 necessary, depending upon the types of vaccines that we
15 make in the future. But currently, the biggest
16 correlate is good neutralizing titers. And it is a
17 light you can shine on many viruses, so to speak, with
18 a higher throughput approach, right?

19 But if it comes to T cells, just for example,
20 the 3C3A virus and the 3C2A viruses that we were just
21 describing, those two vaccine viruses are nearly

1 identical in their hemagglutinin. Really, they have
2 very few amino acid substitutions. So, when you think
3 about how that hemagglutinin would be broken up and
4 presented by T cells, most of it would be the same
5 whether it was 3C3A or 3C2A.

6 **DR. KURILLA:** Thanks.

7 **DR. EL SAHLY:** Any additional comments?

8 **DR. BOLLINGER:** This is Lisa Bollinger. Can I
9 just ask one more quick question? That is, when we
10 look at the data on immunogenicity across the
11 population, do we ever select strains based on the
12 importance of certain patient subgroups as far as
13 vulnerability to influenza -- like worst disease --
14 such as pediatric patients or the elderly? Or do we go
15 for the mean of the population?

16 **DR. WENTWORTH:** Okay. We always consider
17 those impacted by the disease more severely, and the
18 pediatric and elderly are those. But it's not a direct
19 -- it's not like, oh, we have to protect this group at
20 the expense of another group. Right?

21 But as an example, with the H1N1s that I

1 provided, in part, it could happen indirectly because
2 in a pediatric population they haven't been exposed to
3 many viruses throughout their lifetime. So, it's
4 easier with sera from that population to identify small
5 differences between related viruses, right?

6 And it is very much considered in influenza B
7 viruses and in Victoria where they can impact kids.
8 And one of the -- you know, can be a driver for change
9 as well. It's always a collective decision. It's not
10 any one thing. I really hope that comes across.

11 We take into account vaccine effectiveness,
12 which we haven't even discussed today. But how well is
13 the current vaccine working in the most recent season?
14 And are there signals there? Or are there signals in
15 specific age groups in VE that we can identify? And
16 that has been identified in the past, particularly for
17 H1N1 viruses. They weren't the pediatric population in
18 that case.

19 So, the long answer is kind of the long
20 answer. The short answer is, yes, the specific groups
21 are thought about and in part, also, when it comes to

1 the trivalent and quadrivalent as well.

2 **DR. WENTWORTH:** So, what we conclude in a
3 trivalent would be the new antigenic virus because
4 that, if it's going into a pediatric population,
5 they've never seen that virus before.

6 **DR. BOLLINGER:** Thank you.

7 **DR. GRUBER:** So, can I make a comment -- this
8 is Marion Gruber -- along the lines or just to add on
9 what was just discussed. And that is, are we really
10 looking at immune status or certain subpopulations when
11 we're looking at making vaccines available? I just
12 wanted to point out, there are influenza vaccines that
13 we have licensed where we really have the immune status
14 of the population in mind.

15 For example, a couple of years ago we licensed
16 Fluzone High-Dose. Where we basically adjusted the
17 dose of the concentration of the influenza vaccine
18 antigens to be administered to the elderly, because
19 there's recognition that, because of immunosenescence
20 and other factors, the elderly may not respond as well.
21 So, there are efforts being made to also tailor certain

1 vaccine products to these types of subpopulations.

2 Thank you.

3 **DR. GANS:** Hi. This is Hayley Gans. I had a
4 quick question. Most of my questions have been
5 answered. But I had a question about the different
6 strains' activity and the different vaccine
7 formulations. We seem to be concentrating mostly on
8 the injectable but obviously there's the live viral
9 nasal formulations. And while they carry the same
10 strains, is there any -- and I haven't seen this
11 variability and what does well in one formulation
12 versus another, and should that be considered at all?
13 I guess that's the question, strain specific.

14 **DR. WENTWORTH:** I think, as far as the product
15 variability, I would rather turn it over to FDA. But
16 the short answer is, with the LAIVs, we basically are
17 trying to match the recommended strains. The LAIV
18 companies submit the strain that they are planning to
19 use for two-way antigenic testing to one of the
20 collaborating centers that has the recommended strain,
21 or the like recommended strain. And then it passes

1 that and then can be moved -- and then they submit to
2 FDA for potency and things like that.

3 **DR. EL SAHLY:** Dr. Weir, would you like to
4 weigh in on the matter?

5 **DR. WEIR:** Yes. I can add just a little bit.
6 First of all, this doesn't apply to the Southern
7 hemisphere formulation for us in the U.S. But the
8 process for selecting the strains, the WHO
9 recommendation does apply. And actually, our VRBPAC
10 recommendation for the Northern hemisphere does apply
11 to the inactivated vaccines as well as live attenuated
12 vaccines.

13 So, yes, the maker of a live attenuated
14 vaccine also has to formulate a vaccine that meets
15 those recommendations. It is true that, over the last
16 few years, there has been an increase in the amount of
17 data that comes out as to the effectiveness of
18 vaccines. In recent years, there has been enough data
19 to actually distinguish the effectiveness of
20 inactivated vaccines sort of as a broad class -- all of
21 them lumped together, not necessarily manufacturer

1 specific -- and distinguish those between the
2 effectiveness of live attenuated vaccines.

3 That's happened over the last few years, and
4 you see varying degrees of effectiveness depending on
5 the year. But I don't know that I can say much more
6 about it than that.

7 **DR. GANS:** Great. Thanks.

8 **DR. EL SAHLY:** And there's always the added
9 complexity in this big set of data that we've used
10 today. And predicting the effectiveness or efficacy of
11 the LAIV is not as correlated with the HAI and
12 responses as the other two vaccines, certainly not
13 perfectly. Any additional comments?

14 I would like to point out here one thing in
15 that Captain Serina Hunter-Thomas did confirm that
16 there are no requests from the public. So, that's why
17 we did not read the open public hearing statement. I
18 would like to turn maybe the slides to the recommended
19 strains so we can take a final roll call with comments,
20 and then voting.

21 **MS. HUNTER-THOMAS:** Dr. El Sahly, you want the

1 questions slide shown?

2 **DR. EL SAHLY:** Yes, please.

3 **MS. HUNTER-THOMAS:** Okay. There you go.

4 **DR. EL SAHLY:** All right. So, we will go over
5 the room just to make sure that everyone's questions
6 have been answered satisfactorily to the limits of
7 what's norm, at least. Dr. Hayley Gans?

8 **DR. GANS:** Nothing.

9 **DR. EL SAHLY:** Dr. Holly Janes?

10 **DR. JANES:** Nothing further for me.

11 **DR. EL SAHLY:** Dr. Michael Kurilla?

12 **DR. KURILLA:** No further comments or
13 questions.

14 **DR. EL SAHLY:** Dr. Cody Meissner?

15 **DR. MEISSNER:** No more questions.

16 **DR. EL SAHLY:** Dr. Paul Spearman?

17 **DR. SPEARMAN:** Nothing further. Thanks.

18 **DR. EL SAHLY:** Dr. Geeta Swamy?

19 **DR. SWAMY:** Nothing further.

20 **DR. EL SAHLY:** Mr. Sheldon Toubman?

21 **MR. TOUBMAN:** No, thank you.

1 **DR. EL SAHLY:** Dr. Melinda Wharton?

2 **DR. WHARTON:** No additional questions. Thank
3 you.

4 **DR. EL SAHLY:** Dr. Tammy Beckham?

5 **DR. BECKHAM:** No questions. Thank you.

6 **DR. EL SAHLY:** Dr. Steven Pergam?

7 **DR. PERGAM:** I have no further questions.
8 Thanks.

9 **DR. EL SAHLY:** Dr. Lisa Bollinger?

10 **DR. BOLLINGER:** No further questions. Thank
11 you.

12 **DR. EL SAHLY:** Any final remarks from Dr.
13 Wentworth? I know that you've been put on the spot all
14 day long, but --

15 **DR. WENTWORTH:** No. No more comments from me.
16 I would mention that the other person that contributes
17 fitness forecasting data is Michael Lassig's team.

18

19 **VOTE (TOPIC II)**

20

21 **DR. EL SAHLY:** Okay. All right. So, we have

1 the questions on the screen. I'm going to read them
2 out loud and then, per Serina's instructions, again, we
3 should be sending accept, reject, or accept with
4 changes. Is that correct, Serina?

5 **MS. HUNTER-THOMAS:** Almost. So, for these two
6 questions, the options are yes, no, or abstain.

7 **DR. EL SAHLY:** Oh. Yes, no, abstain. Yes.
8 That's the second row. Sorry.

9 **MS. HUNTER-THOMAS:** Okay.

10 **DR. EL SAHLY:** Okay. The questions. Question
11 one: For the composition of trivalent 2020 Southern
12 hemisphere formulations of influenza vaccines, does the
13 committee recommend inclusion of an
14 A/Brisbane/02/2018(H1N1)pdm09-like virus, inclusion of
15 an A/South Australia/34/2019(H3N2)-like virus,
16 inclusion of a B/Washington/02/2019-like virus Victoria
17 lineage? Please send your vote to Serina via email.
18 Dr. Gruber would like to make a comment. Please, go
19 ahead, Dr. Gruber.

20 **MS. HUNTER-THOMAS:** Not right -- before we
21 adjourn, after the voting.

1 **DR. EL SAHLY:** Oh, after the voting.

2 **MS. HUNTER-THOMAS:** Yes. Sorry. Thank you.

3 I wasn't clear. Thank you. Okay, we're almost there.

4 I just received yours, Dr. El Sahly. And Dr. Pergam, I

5 just received yours. Okay. So, I will read aloud for

6 the record the voting results for question number one.

7 And just to clarify, some folks have already voted for

8 question number two, but I need to get that separately,

9 okay?

10 The voting results for question number one,

11 starting with Dr. El Sahly, is a yes. Dr. Gans is a

12 yes. Dr. Janes is a yes. Dr. Kurilla, yes. Dr.

13 Meissner, yes. Dr. Spearman, yes. Dr. Swamy, yes.

14 Mr. Toubman, yes. Dr. Wharton, yes. Dr. Beckham, yes.

15 And Dr. Pergam, yes. Is that confirmed for everyone?

16 **DR. MEISSNER:** Yes.

17 **DR. EL SAHLY:** Yes.

18 **MS. HUNTER-THOMAS:** Okay. And the results are

19 now posted for the benefit of everyone on the webcast.

20 Dr. El Sahly, are we ready to proceed to question

21 number two?

1 **DR. EL SAHLY:** Let's do that.

2 **MS. HUNTER-THOMAS:** Okay.

3 **DR. EL SAHLY:** Okay. Question number two for
4 voting: For the quadrivalent 2020 Southern hemisphere
5 correlation influenza vaccine, does the committee
6 recommend inclusion of a B/Phuket/3073/2013-like virus,
7 Yamagata lineage, as the second influenza B strain in
8 the vaccine? Please send your vote to Serina via
9 email.

10 **DR. PERGAM:** Do we need to resend it?

11 **MS. HUNTER-THOMAS:** Yes please. Thanks.

12 Okay, we just have one more pending and that is Dr.
13 Gans.

14 **DR. GANS:** I sent you mine. Should I send it
15 again?

16 **MS. HUNTER-THOMAS:** Okay. I'm sure it's just
17 waiting to push through, I guess.

18 **DR. GANS:** I sent it a while ago. I'll resend
19 it.

20 **MS. HUNTER-THOMAS:** Okay. I see it now.

21 Thank you. Okay. So, all the votes are in for

1 question number 2. And for the benefit of the record,
2 I'll read aloud. Starting with Dr. El Sahly, the
3 response is yes. Dr. Gans, yes. Dr. Janes, yes. Dr.
4 Kurilla, yes. Dr. Meissner, yes. Dr. Spearman, yes.
5 Dr. Swamy, yes. Mr. Toubman, yes. Dr. Wharton, yes.
6 Dr. Beckham, yes. And Dr. Pergam, yes.

7 **DR. EL SAHLY:** Okay. Dr. Marion Gruber?

8 **MS. HUNTER-THOMAS:** And the results for
9 question number two are on the screen. Thank you. Dr.
10 Gruber?

11 **DR. GRUBER:** Yes. Thank you very much. What
12 I wanted to do is actually, first of all, thank the
13 committee for their discussion, their recommendation
14 and their vote. We know that these discussions
15 regarding flu strain selection are always complex and
16 very complicated. So, your efforts and help in that
17 regard are much appreciated.

18 But I also wanted to take a moment here to
19 express my appreciation and thank Captain Serina
20 Hunter-Thomas for an exemplary job that she has done
21 over the last couple of years and providing her support

1 in the planning, organizing, and conducting our
2 advisory committee meetings. We really could not have
3 done it without her help and support.

4 Regretfully, Serina is moving on. This is her
5 last meeting of the Vaccines and Related Biologic
6 Products Advisory Committee. So, I just want to take a
7 moment, Serina, to really thank you from the bottom of
8 my heart for all the work that you have done, for your
9 creativity and really jumping in the last minute and
10 helping us out. When we thought a committee meeting
11 could not happen because of X, Y, and Z, you were
12 there, and you helped us. So, on behalf of the Office
13 of Vaccines, thank you very much and we wish you well.
14 Thank you.

15 **MS. HUNTER-THOMAS:** Thank you, Dr. Gruber.

16 **DR. EL SAHLY:** I will miss you, personally,
17 Serina.

18 **MS. HUNTER-THOMAS:** Yeah. Sorry I didn't get
19 a chance to send out the memo. We've been so busy.

20 **DR. EL SAHLY:** Well, wishing you good luck on
21 whatever endeavor you take on next.

1 **MS. HUNTER-THOMAS:** Thank you. Thank you so
2 much. Without further ado, I think, Dr. El Sahly, are
3 we able to adjourn?

4 **DR. EL SAHLY:** Okay. Yes. Definitely. Thank
5 you all for participating. The meeting is adjourned.

6 **MS. HUNTER-THOMAS:** Thank you, everyone. Have
7 a great day.

8 **DR. EL SAHLY:** Thank you, everyone. Bye-bye.

9 **[MEETING ADJOURNED FOR THE DAY]**

10