

## 1 U.S. FOOD &amp; DRUG ADMINISTRATION

2

3

4 Promoting Effective Drug Development Programs:

5 Opportunities and Priorities for FDA's

6 Office of New Drugs

7

8

9

10 DATE: Thursday, November 7, 2019

11 TIME: 9:04 a.m.

12 LOCATION: U.S. Food and Drug Administration

13 White Oak Campus

14 Building 31, Room 1503 - Great Room

15 10903 New Hampshire Avenue

16 Silver Spring, MD 20993

17 REPORTED BY: Michael Farkas, Notary Public

18 JOB No.: 3661277

19

20

21

22

1 PARTICIPANTS:  
2 Patrizia Cavazzoni  
3 Keith Flanagan  
4 Jim Smith  
5 Peter Pitts  
6 Jennifer Hamilton  
7 Judith Prescott  
8 Paul Melmeyer  
9 Arthur Krieg  
10 Julia Vitarello  
11 Janice Soreth  
12 Elliott Levy  
13 Katrin Rupalla  
14 Mark Stewart  
15 Meg Jardine  
16 Russell Reeve  
17 Peter Schiemann  
18 Jitendra Ganju  
19 Ting-Chao Chou  
20 Charles Fisher  
21 Andrew Emmett  
22 Kelly Close  
23 Emily Fitts

1 Cherise Shockley  
2 James Love  
3 Andrew Robertson  
4 Andrew Gustafson  
5 Frank Sasinowski  
6 Frederick Derosier  
7 Lucy Vereshchagina  
8 Martin Roessner  
9 James Valentine  
10 Cartier Esham  
11 Liza O'Dowd  
12 Dmitri Iarikov  
13 Sumathi Nambiar  
14 Debra Birnkrant  
15 Julia Beaver  
16 Steven Lemery  
17 Harpreet Singh  
18 R. Angelo De Claro  
19 Nicole Gormley  
20 Eric Bastings  
21 Nicholas Kozauer  
22 Tiffany Farchione  
23 Sharon Hertz

1 Ozlem Belen  
2 Wiley Chambers  
3 Ann Farrell  
4 Hylton Joffe  
5 Shari Targum  
6 Louis Marzella  
7 Theresa Michele  
8 Dragos Roman  
9 Nikolay Nikolov  
10 Norman Stockbridge  
11 Lisa Yanoff  
12 Lynne Yao  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23

1 KEITH FLANAGAN: Okay. Good  
2 morning everyone. My name's Keith Flanagan. I'm the  
3 director of the office of new drug policy, in the  
4 office of new drugs and I'll be serving as one of  
5 today's moderators. Dr. Jim Smith, raise your hand --  
6 is deputy director of the division of clinical policy,  
7 in the office of new drug policy. He will also serve  
8 as a moderator today.

9 This meeting is an opportunity for  
10 stakeholders to make specific, actionable suggestions,  
11 where policy clarity or scientific discussion could  
12 promote effective drug development. This is a  
13 particularly opportune time for this meeting, as OND  
14 is currently in the process of reorganization.

15 You will see some divisions represented  
16 in front of you that just stood up this week, and  
17 others who are in a state of transition. We expect  
18 this reorganization will further strengthen our review  
19 functions and we're excited to have the participation  
20 of both longstanding and new division directors. If  
21 you were unable to register in advance to speak today,  
22 you can share your views by submitting a written

1 comment to the docket, which will remain open through  
2 January 7, 2020. For your reference, the docket  
3 number is FDA-2019-N-3453. And the docket can be  
4 accessed at [www.regulations.gov](http://www.regulations.gov). We have a full day  
5 today. Before getting started, I'd like to briefly go  
6 over some logistics that will help keep the meeting  
7 running efficiently.

8 If you haven't already done so, please  
9 sign in at the registration desk, so we can send any  
10 follow-up information after this meeting. Also, if  
11 you're presenting today, please make sure you have  
12 signed in. This will help keep us on track. Today's  
13 agenda includes a fifteen-minute break this morning, a  
14 one-hour lunch break, and a fifteen-minute break in  
15 the afternoon.

16 We ask that you return promptly from  
17 the breaks and lunch, so that the 28 registered  
18 speakers can fully utilize their allotted time. If  
19 you are ordering lunch, you'll need to place your  
20 lunch order and pay at the lunch kiosk by 10:00 AM.  
21 The cost of a lunch box is \$11, cash or credit. Each  
22 lunch box contains an apple, chips, cookie, bottled

1 beverage and a sandwich or salad of your choice. Keep  
2 your payment receipt, as you'll need to show it when  
3 picking up your food at lunch time. For any members  
4 of the media present, FDA press officer  
5 Nathan Arnold is available to help you. Nathan,  
6 please stand up and identify yourself. Please direct  
7 all media questions to him. The Wi-Fi network name  
8 and password are available at the registration desk.

9           With respect to the agenda, the times  
10 listed on the agenda are approximate. If we finish  
11 one session ahead of schedule, we'll move right into  
12 the next part of the agenda. Registered speakers  
13 should keep track of how the meeting is progressing,  
14 to be sure that they are ready when it is their turn  
15 to present. Following our opening remarks and  
16 introduction to the panelists, we will have public  
17 presentations that represent a variety of perspectives  
18 across a range of topics pertaining to effective drug  
19 development.

20           These presentations are organized into  
21 four sessions to provide opportunities for breaks and  
22 lunch. The sessions are not limited to a particular

1 topic. Regarding ground rules, we respectfully ask  
2 that each presenter limit their remarks to the time  
3 you have been allotted. If the moderators need to ask  
4 you to wrap up, please conclude as quickly as  
5 possible, so that we may remain on schedule. No  
6 participant may interrupt the presentation of another  
7 participant. After each presentation, the panel may  
8 have a minute or two to ask questions of the  
9 presenter. Therefore, I ask that each presenter  
10 remain at the podium after your remarks to allow the  
11 panel this opportunity.

12 Only the panel may ask questions after  
13 the presentations. I will announce the first speaker  
14 of each of the four sessions, but not the subsequent  
15 ones. So, please approach the podium when the slide  
16 that lists your name and affiliation appears on the  
17 screen. After presentations from the first session,  
18 we'll take a fifteen-minute break. Then speakers from  
19 the second sessions will present. Session two will  
20 followed by lunch from about 12:15 until 1:15.

21 After lunch, we'll hear presentations  
22 from session three. Those presentations are followed



1 by a fifteen-minute break, and then sessions four.  
2 After the presentations, I'll make brief closing  
3 remarks to adjourn the meeting. The record of this  
4 meeting will be transcribed. So, please remember to  
5 use the microphone when speaking. The transcript will  
6 be accessible through regulations.gov, in the website  
7 for this public meeting, in about thirty days. Any  
8 comments that aren't presented today can be submitted  
9 through regulations.gov, using docket number, again,  
10 FDA-2019-N-3453. With that, I'd like to thank Dr.  
11 Patrizia Cavazzoni, deputy center director, for being  
12 here today, and invite her to the podium to deliver  
13 opening remarks.

14 PATRIZIA CAVAZZONI: Good morning and  
15 welcome to this public meeting, which, as you have  
16 heard from Keith Flanagan, will be first and foremost  
17 a listening session. FDA is currently engaged in  
18 multiple, high priority initiatives to facilitate  
19 effective drug development. What do we mean by  
20 effective drug development?

21 These are development programs that  
22 leverage best available scientific knowledge to

1 characterize the benefit risk of a drug and generate  
2 the data necessary to support product approval while  
3 maintaining FDA's regulatory standards. This meeting  
4 is an opportunity for OND division leadership to  
5 receive input directly from the public. As you can  
6 see today, we have representation across the OND  
7 review divisions. This endeavor aligns with other  
8 ongoing efforts to modernize the new drug review  
9 program, as is it supports the scientific leadership  
10 of the OND review staff and provides a venue for OND  
11 review division leaders to hear directly from  
12 stakeholders.

13 Our purpose is to solicit specific  
14 actionable policy suggestions for the OND review  
15 staff. We are particularly interested in efforts that  
16 can be implemented in the near term and that cut  
17 across multiple therapeutic areas. A note about the  
18 fact that there are current, ongoing, separate  
19 initiatives around real world evidence and patient  
20 focused direct development, so these topics are not  
21 going to be the focus of this meeting.

22 Topics that are of particular interest

1 today and where we would like to hear from the public  
2 include input on where OND can provide additional  
3 guidance or prioritize scientific discussion to  
4 improve clarity and encourage effective drug  
5 development. In other words, how can we promote  
6 effective drug development across the wide variety of  
7 products we regulate? There have been many advances  
8 in the field of medicine with respect to discovering  
9 the underlying and molecular underpinning of disease,  
10 thereby enabling precise targeting of drugs. But  
11 these advances have not permeated all areas of  
12 medicine. We would like to hear from the public on  
13 how OND could facilitate drug development for diseases  
14 not currently amenable to targeted therapies.

15 We have seen a rise in the  
16 implementation of novel trial designs, such as master  
17 protocols, particularly among programs for serious and  
18 life-threatening diseases like cancer. We are  
19 interested in stakeholders' views on the advantages  
20 and disadvantages of extending these innovative  
21 approaches to additional therapeutic areas.

22 There is already a portfolio of FDA

1 guidances addressing drug development issues, not  
2 specific to a particular disease or indication. We  
3 want to hear whether stakeholders have experienced  
4 variable implementation of these guidances across the  
5 office of new drugs and across review divisions in  
6 a way not explained by case-specific features.

7 Are there specific areas where additional clarity on  
8 the agencies current thinking is needed? Novel  
9 approaches can bring additional uncertainty.

10 How could the office of new drugs promote effective  
11 drug development programs in the face of the tension  
12 between encouraging innovation and relying on existing  
13 regulatory precedent? I look forward for today's  
14 discussion and I would like now to call Keith Flanagan  
15 back to the podium.

16 KEITH FLANAGAN: Thanks, Dr.

17 Cavazzoni. Now I would like to ask our panelists to  
18 introduce themselves, starting with Dr. Smith, please.

19 JIM SMITH: My name is Jim Smith. I am  
20 the acting deputy director of the division of clinical  
21 policy in the office of new drug policy. And as Keith  
22 mentioned, I'll be one of the moderators for the

1 session.

2 NIKOLAY NIKOLOV: Morning. My name is  
3 Nikolay Nikolov. I'm an associate director for  
4 rheumatology, in the division pulmonary, allergy  
5 and rheumatology products.

6 WILEY CHAMBERS: I'm Wiley Chambers.  
7 I'm representing ophthalmology.

8 SHARON HERTZ: Sharon Hertz, director  
9 of the division of anesthesiology, addiction medicine  
10 and pain medicine.

11 HARPREET SINGH: Harpreet Singh, acting  
12 division director of our division of oncology products  
13 two, which houses thoracic and head and neck  
14 malignancies, as well as CNS, cancers, rare tumors and  
15 pediatric malignancies.

16 THERESA MICHELE: Hi. I'm Theresa  
17 Michele. I'm the division director for non-  
18 prescription drug products.

19 LOUIS MARZELLA: Good morning. I'm Lou  
20 Marzella. I'm the director of the division of medical  
21 imaging products.

22 SHARI TARGUM: Good morning. I'm Shari

1 Targum. I'm associate director for biosimilars, in  
2 the division of dermatology and dental products.

3 HYLTON JOFFE: And I'm Hylton Joffe.  
4 I'm the director of the division of bone, reproductive  
5 and neurologic products.

6 LYNNE YAO: Good morning. My name is  
7 Lynne Yao. I'm the director of the division of  
8 pediatric and maternal health.

9 DRAGOS ROMAN: Good morning. My name  
10 is Dragos Roman and I'm the acting division director  
11 for the division of gastroenterology and  
12 inborn errors products.

13 OZLEM BELEN: My name is Ozlem Belen  
14 and I'm the acting division director for the division  
15 of transplant and ophthalmology products.

16 LISA YANOFF: Good morning. I'm Lisa  
17 Yanoff for metabolic endocrine products.

18 TIFFANY FARCHIONE: Tiffany Farchione,  
19 acting director for the division of psychiatry.

20 NICHOLAS KOZAUER: Good morning. Nick  
21 Kozauer, acting director of division of neurology two.

22 ERIC BASTINGS: Good morning. Eric

1 Bastings, acting director, division of neurology one.

2 ANN FARRELL: Ann Farrell, division  
3 director of division of hematology products.

4 ANGELO DE CLARO: Angelo De Claro,  
5 acting division director, division of hematologic  
6 malignancies one. We handle acute leukemia, MDS, CML  
7 and drug products for hematopoietic stem cell  
8 transplantation.

9 NICOLE GORMLEY: Hello. I'm Nicole  
10 Gormley. I'm the acting division director for the  
11 division of hematologic malignancies two. And we  
12 regulate and handle products related to CLL, non-  
13 Hodgkin's lymphoma and multiple myeloma.

14 STEVEN LEMORY: Steven Lemory, acting  
15 director of the division of oncology products three.  
16 We handle GI malignancies and melanoma sarcoma.

17 JULIA BEAVER: Julia Beaver, director  
18 of division of oncology one, which handles breast,  
19 genitourinary malignancies and GYN malignancies.

20 DEBRA BIRNKRANT: Debbie Birnkrant,  
21 director, division of antivirals.

22 SUMATHI NAMBIAR: Good morning.

1 Sumanthi Nambiar, director of division of anti  
2 infectives.

3 KEITH FLANAGAN: Thank you. The  
4 panelists are here to listen to the views of the  
5 public. They will in listen-only mode. However, as I  
6 mentioned previously, they will have the opportunity  
7 to ask question following each presentation if they  
8 choose. I thank the division directors for their  
9 participation today and salute their collective  
10 scientific leadership. Also, thanks very much to Drs.  
11 Woodcock, Stein and Temple -- Bob, wave -- for their  
12 continuing engagement.

13 It's now time to begin the public  
14 presentations, starting with Session 1. Again, I'll  
15 announce the first speaker, but not subsequent ones.  
16 So please approach the podium when the slide that  
17 lists your name and affiliation appears on the screen.  
18 After your remarks, please remain at the podium to  
19 allow the panel an opportunity to ask questions.

20 The first speaker for Session 1 is  
21 Peter Pitts, President of the Center for Medicine in  
22 the Public Interest. Mr. Pitts?



1                   PETER PITTS: Good morning. My name is  
2 Peter Pitts, Center for Medicine in the Public  
3 Interest.

4                   Opportunities and priorities for FDA's  
5 Office of New Drugs, yes, please. Very timely. I am  
6 very honored to be here this morning.

7                   Enthusiasm is common, but commitment is  
8 rare. Per the FDA, "Modernizing our operations helps  
9 us perform our mission effectively in an environment  
10 of rapidly-evolving science, changing stakeholder  
11 expectations, and new statutory authorities and  
12 responsibility." So I guess from the beginning, we  
13 recognize that the words that are being spoken are  
14 accurate; the question is how do we actually make them  
15 happen? How does the rubber meet the road? Because  
16 the status quo is a harsh mistress. And nowhere is  
17 that more true or more dangerous or more interesting  
18 than when it comes to medicine's regulation.

19                   What senior agency management says  
20 publicly about the value and urgency of regulatory  
21 innovation has yet to permeate through its review  
22 divisions. This disconnect is causing a lack of faith

1 within the broader healthcare ecosystem that FDA can  
2 be a potent ally in advancing patient access to new  
3 and important medical technologies. That is not a  
4 good thing. Faith must be restored and reinforced.  
5 And there must also be a similar review of the  
6 disconnect between the pronouncements from the upper  
7 echelons of the biopharmaceutical industry and the  
8 actual research and development programs undertaken by  
9 their companies.

10 In short, that means blaming the FDA  
11 for everybody's problems is really not the best way to  
12 move things forward. We can all make things better.  
13 Don't place the blame; fix the problem.

14 Part of the solution is increasing  
15 regulatory velocity through this reorganization of the  
16 Office of New Drug Policy. The 21st century FDA  
17 requires greater regulatory certitude. In other words,  
18 that similar situations are treated in similar ways  
19 within divisions and across divisions. And this new  
20 policy function, the new OND policy function, can help  
21 enhance the knowledge and comfort of reviewers so that  
22 new initiatives such as real world evidence, basket

1 trials, adaptive clinical trials, master protocols,  
2 synthetic trials, and so forth, are more regularly  
3 accepted as part of the FDA review process. Accepting  
4 change is difficult. More difficult for some than for  
5 others.

6 And this means nothing less than  
7 accelerating an OND-wide review of the current and  
8 dangerous stasis of the regulatory status quo.

9 The most potent way that FDA can enable  
10 innovation is by being a partner in advancing new  
11 approaches to both drug development and regulatory  
12 science. And this begins at the conceptual policy  
13 level. Regulatory ambiguity does not instill  
14 confidence in an already high-risk development  
15 environment.

16 The OND policy shop as being  
17 reconfigured must be able to provide closer  
18 coordination between senior agency leadership views on  
19 advances in regulatory science and those of divisional  
20 line reviewers.

21 In some respects this new office could  
22 act as a translator, as a MapQuest to new ways of

1 approaching regulatory science.

2 Regulatory velocity means generating  
3 heat rather than light. The intent is to provide  
4 greater consistency and nimbleness regarding the  
5 appropriateness of new tools and techniques for drug  
6 developers. This is as much a scientific issue which  
7 we'll discuss today I'm sure, as it is one of social  
8 and cultural calibration across therapeutic review  
9 divisions.

10 Sometimes even brilliant scientists  
11 have a hard time viewing new ideas without being  
12 threatened by them. This is human nature, and it's as  
13 true for reviewing drugs as it is every place else.  
14 That's got to change. That has to be addressed, it  
15 has to be acknowledged, it has to be fixed. The FDA  
16 has to be a leader in regulatory science. The science  
17 of developing new tools, standards, and approaches to  
18 assess safety, efficacy, quality, and performance  
19 through true expertise and leadership rather than for  
20 simply being the FDA. Having the badge doesn't  
21 necessarily make you the expert. This is a collegial  
22 approach that everybody's got to participate in. FDA

1 can be first among equals and lead, and I believe  
2 that's the appropriate role to take.

3 Let me share with you five ways to  
4 achieve regulatory velocity. It begins with a general  
5 review of the current and danger of stasis of the  
6 regulatory status quo. And let me give you five  
7 things that we can do right now. We have to address  
8 the dangers of heterogeneous approaches to regulatory  
9 policy and the need for closer coordination between  
10 senior agency leadership views on advances in  
11 regulatory science and those of divisional line  
12 reviewers. Press releases saying all the right things  
13 and exciting lots of people isn't the same thing as  
14 making it happen on the divisional review level.

15 Again, the need for greater regulatory  
16 certitude that similar situations be treated in  
17 similar ways. When you have that disconnect, it  
18 scares developers away from investing in newer, more  
19 exciting, riskier programs.

20 Three, the need for additional  
21 resources for and better training of divisional review  
22 staff in new regulatory science techniques. We can't

1       assume that just because the science is out there,  
2       that FDA review staff at any level, at every level  
3       really knows how to work with that information. The  
4       need for more flexible approaches to agency-sponsored  
5       communications that does not compromise review  
6       integrity or sponsor resources. Past PDUFAs have  
7       called for more and more regular meetings, and that's  
8       happened. There's a backlog. It's got to be  
9       addressed. We don't need just more communications; we  
10      need better communications, we need flexible  
11      communications. Not just meetings, but phone calls  
12      and emails. How can we use technology, how can we be  
13      smarter in the use of time?

14                 And again, let me repeat, the need for  
15      additional resources for and better training of  
16      divisional review staff in regulatory science  
17      techniques. This doesn't happen for free. This  
18      requires resources, it requires time. This is a  
19      PDUFA conversation.

20                 Our FDA initiative is behind the eight  
21      ball. Well, everything starts with policy  
22      predictability. Back to the OND Office of New Drugs.

1 The best way to avoid resistance to change is to try  
2 to uncover it before implementing that change. The  
3 FDA's reorganized Office of New Drug Policy can act as  
4 regulatory MapQuest for advancing regulatory science.  
5 Is this achievable? Signs point to yes. Thank you  
6 very much.

7 JIM SMITH: Thank you very much,  
8 Mr. Pitts, for your presentation. We have a question  
9 from Dr. Marzella.

10 LOUIS MARZELLA: I wonder if you  
11 could elaborate on the need for improved  
12 communication. What specifically do you see as the  
13 most critical needs that need to be addressed?

14 PETER PITTS: I think the most critical  
15 need to be addressed is not allowing programs to mire  
16 within the review process because of untimely  
17 communications. I'm not saying that we want to do  
18 away with process. Process is urgent. But I think a  
19 greater nimbleness in providing feedback, on  
20 straightforward questions, even though it remains  
21 obviously non-binding advice, it's certainly something  
22 that should be investigated. There are too many times

1 that I've heard from sponsors that requests for  
2 communications on relatively straightforward questions  
3 are delayed when a full meeting isn't really  
4 necessary. So I think a more flexible approach to  
5 that type of communication is called for.

6 WILEY CHAMBERS: Can you give us an  
7 example of what you're talking about? What kind of  
8 timeframe would be an acceptable timeframe?

9 PETER PITTS: An acceptable timeframe  
10 for communications?

11 WILEY CHAMBERS: Correct.

12 PETER PITTS: I think that becomes a  
13 sponsor project manager type of situation. I think  
14 obviously if a sponsor wants a more detailed and  
15 profound conversation and to receive some type of  
16 feedback, more time is necessary. You can't get ten  
17 people in a room in 15 minutes. But if the sponsor  
18 determines they really just want a simple phone call  
19 with a specific person, I think that should be able to  
20 be arranged in a much more truncated manner. I don't  
21 know if I've got a specific day, week, two-week type  
22 of proposition, but I think generating a phone call



1 conversation through a project manager to stay within  
2 process certainly can be done you'd think within a  
3 week, two weeks on the outside.

4 SHARON HERTZ: You mentioned it  
5 would be non-binding. What exactly does that mean?

6 PETER PITTS: Well, that's a really  
7 good question. What does non-binding advice mean?  
8 Advice that you get from the agency obviously speaks  
9 to what the agency thinks is the right answer, but  
10 obviously it's within the sponsor's purview to do  
11 whatever they feel is best for their program. In my  
12 experience, non-binding advice is generally viewed as  
13 binding advice for those who want to make sure their  
14 programs move forward aggressively and in a positive  
15 direction. If the agency wants to move forward and  
16 say we're going to create non-binding advice and  
17 binding advice, that might be interesting, but I don't  
18 see that on the table. Non-binding advice I think is  
19 understood as being solid advice relative to agency  
20 thinking that the sponsor can take or not take.

21 SHARON HERTZ: And just one further  
22 question. You mentioned the desire to be able to

1 simply get somebody on the phone. How do you see that  
2 integrating with the sentiment you expressed on  
3 keeping things consistent within the agency if anybody  
4 can get on the phone with a sponsor at the sponsor's  
5 request?

6 PETER PITTS: Well, I don't think it's  
7 anybody. Obviously you want to make sure the person  
8 that the sponsor is speaking to is the appropriate  
9 person and doesn't speak out of school. You can have  
10 a meeting in person and have a solid conversation on a  
11 wide variety of issues. You can have a phone call  
12 with one or two persons and have a solid conversation  
13 on a much narrower level. And I think that's really  
14 what's being called for. If programs are being held  
15 up, if decisions are being held up on the sponsor  
16 level because of the lack of a that-sounds-right or  
17 that-doesn't-sound-right from the appropriate person  
18 within the appropriate division, I think that's  
19 something to consider.

20 JIM SMITH: And we'll take our  
21 last question from Dr. Bastings.

22 ERIC BASTINGS: You are calling for

1 additional resources. Can you elaborate on that?

2 What sort of resources are you calling for?

3 PETER PITTS: Money. Let me be blunt.

4 I think this requires funding. It requires federal  
5 funding. It requires a significant amount of federal  
6 funding. I think as we think about PDUFA, when you  
7 fund things through PDUFA, you get the money. But you  
8 also have measurements and goals. And I think an  
9 important point is if you don't measure it, it doesn't  
10 count. And I think the ability to measure how the  
11 agency is doing relative to new types of utility of  
12 new scientific tools or sponsored communications, I  
13 think that's something that would be smiled upon  
14 certainly within the development community. And I  
15 think that having better-trained staff on the FDA side  
16 and new scientific techniques is absolutely essential.  
17 Because minus that, nothing happens, and the problem  
18 just gets worse.

19 JIM SMITH: Thank you very much  
20 for sharing your thoughts today, Mr. Pitts.

21 PETER PITTS: Thank you.

22 JENNIFER HAMILTON: Good morning.

1 Thank you for providing me the opportunity to speak  
2 with you today. My name is Jennifer Hamilton. I am  
3 Head of Precision Medicine at Regeneron  
4 Pharmaceuticals. And today we'd like to talk to you  
5 about novel applications of genomics data for  
6 innovative drug development.

7 So first we'll quickly review  
8 historical and current uses of genomics data in the  
9 drug development process. We'll talk about recent  
10 advances in the utilization of genomics in drug  
11 development, what we can learn from these new  
12 approaches and how ideas on how we might be able to  
13 use this information for regulatory decision making  
14 and labeling.

15 Today we'd like to focus on efficacy  
16 primarily. And at the end of this we'll be talking  
17 about how we can work together to brainstorm in a  
18 collaborative way on how we can advance our collective  
19 knowledge and recommendations for the utilization of  
20 genomics data in regulatory decision making and  
21 product labeling.

22 So the key message today is that

1 genetic information or genomic information should be  
2 more broadly utilized by the FDA as a component of the  
3 efficacy and safety evaluation of new drugs.

4           So how have we been using genomics  
5 data? We have been using genomics primarily for  
6 target identification and validation. We've been  
7 doing this for decades. This is done by genome-wide  
8 association studies, of cases versus controls, of  
9 family studies where diseases run in families.

10           As the technologies have advanced, it's  
11 led to the rise of the field of pharmacogenetics,  
12 which is the study of genetic variants and the  
13 association between drug safety and efficacy. As of  
14 yesterday according to the FDA website, there are now  
15 387 instances of pharmacogenetics information being  
16 used in labeling.

17           So some examples of how we're using  
18 genomics in labeling are related to adverse drug  
19 reactions or lack of efficacy. For example, there are  
20 many variants in CYP genes related to absorption,  
21 distribution, metabolism, excretion. Just one example  
22 of this is that CYP2C19 is required for metabolizing

1 the clopidogrel prodrug to its active form. And so  
2 variants in the gene effect whether or not the drug is  
3 active, and therefore the efficacy of the drug.

4 There are also examples where variants  
5 have been associated with hypersensitivity, such as  
6 the HLA-B variants that have been associated with  
7 severe hypersensitivity reactions to abacavir.

8 As the field has evolved, we are now  
9 using genomics to select patients most likely to  
10 respond. Some examples of this -- this is not an  
11 exhaustive list. But some examples are when the  
12 target of the drug is a genetic mutation or variant.  
13 That patient has to harbor that mutation in order for  
14 the drug to be efficacious.

15 One example of this is the BCR-ABL  
16 fusion gene, which results in a mutant protein that  
17 drives chronic myelogenous leukemia and is the drug  
18 target for nilotinib.

19 Variants in non-drug target genes have  
20 also been associated with drug efficacy. For example,  
21 mutations in the RAS pathway, which is downstream of  
22 EGFR, affect the response to treatment of EGFR

1 inhibitors.

2                   So what's new? So we have had just a  
3 tsunami of improvements in innovations in not only the  
4 wet lab, but the computational abilities to generate  
5 large amounts of human genomic information. And this  
6 is resulting in large databases of human genetic  
7 information that are already available and continue to  
8 grow.

9                   In parallel, there's been a growth in  
10 high-quality, curated clinical data that's available  
11 from electronic health records. We link the two together to  
12 understand the genetic variants that are associated  
13 with that causative disease or increased or decreased  
14 risk for a particular disease.

15                   So what's new about this? What's new  
16 about this is that the electronic health records  
17 provide an opportunity to evaluate the long-term  
18 clinical outcomes in patients who have a non-  
19 functioning variant of a target gene. The industry is  
20 already embracing this technology and using it, and  
21 it's growing more and more.

22                   The current state, just a couple of

1 examples. GSK has partnered with 23andMe. Amgen  
2 purchased Ecogenetics, and the Regeneron Genetics  
3 Center has a large partnership with the Geisinger  
4 Healthcare System.

5 Just to give you an idea of the scope  
6 of these kinds of programs, this is another example  
7 where the Regeneron Genetics Center is leading a  
8 collaboration with UK Biobank as well as a biopharma  
9 consortium. As part of this collaboration, the  
10 Regeneron Genetics Center will be sequencing a half a  
11 million participants. And this is anticipated to be  
12 completed by the end of this year. So you can imagine  
13 what we can do with this kind of data.

14 So our hypothesis is that the genomics  
15 can qualitatively predict long-term, target-related  
16 efficacy and safety outcomes. So if a drug is truly  
17 mimicking the clinical phenotype that's identified in  
18 these genomics studies, the expectation is that it  
19 will recapitulate the clinical benefit that's also  
20 observed in these studies. This opens the door to use  
21 more and more biomarker endpoints as registrational  
22 endpoints and may also affect whether or not large



1 clinical outcomes studies are necessary or if perhaps  
2 the scope of them can be reevaluated in the context of  
3 what we know about long-term clinical benefits.

4 So as more and more of this data is  
5 available, it's important for the information to also  
6 be readily available to providers and patients to help  
7 them understand the potential clinical benefits and  
8 risks of new therapies.

9 I'll give you one example of this. The  
10 PCSK9 inhibitors came about because there were  
11 findings that in patients who carried loss of function  
12 alleles -- and what means is that the gene is not  
13 functional -- in PCSK9 had a significantly lower LDL  
14 cholesterol relative to subjects who do not carry  
15 those mutations. And in fact there was a significant  
16 decrease, an 88 percent decrease in coronary heart  
17 disease in people who harbored these loss-of-function  
18 mutations.

19 This led to the development of anti  
20 PCSK9 antibodies, which were shown to significantly  
21 lower LDL cholesterol, which recapitulated what was  
22 seen with the genotypes in this original study. The

1 labeling for these drugs don't include any information  
2 about what we know about the blocking this pathway  
3 with the genetic information.

4           So we then went on to do clinical  
5 outcomes studies. And the clinical outcomes studies  
6 did in fact corroborate what we learned from the  
7 genetics, which is that patients who have been treated  
8 with PCSK9 inhibitors have a lower incidence --  
9 accumulative incidents of cardiovascular events, which  
10 is shown here as a composite endpoint. And still even  
11 though the clinical outcomes data corroborate the  
12 genomics finding, the genomics finding is not in the  
13 drug label.

14           And so what we would like to do is open  
15 up the dialogue about industry FDA collaboration about  
16 establishing data and regulatory standards for novel  
17 applications of these genomic datas to not only  
18 regulatory decision-making, the need for long-term  
19 outcome studies, but also for labeling.

20           So we propose that this be done by a  
21 stakeholder engagement, public workshops led by the  
22 FDA or in collaboration with industry groups,

1 formation of expert working groups, which could then  
2 result to white papers and eventually draft guidances  
3 for public comment.

4 It's important for the industry to have  
5 a formal guidance from the FDA on how we can apply  
6 these genomics data. And it's also important that we  
7 harmonize these approaches with global agencies. And  
8 we think by working together, that we will be able to  
9 implement smarter drug development and make this a  
10 reality through the application of genomics data.

11 Thank you.

12 JIM SMITH: Thank you very  
13 much. Dr. Chambers?

14 WILEY CHAMBERS: What percentage of  
15 patients or even their physicians do you think know  
16 their genetic information in sufficient detail to be  
17 able to use labeling if you were to do it as you  
18 suggested?

19 JENNIFER HAMILTON: Thank you for  
20 your question. The specific labeling that I was  
21 addressing was not necessarily the genotype of the  
22 specific patient, but what we've learned from the

1 genomics about the lack of that pathway.

2 So using the PCSK9 inhibitors as an  
3 example. We know that the patients who have a loss of  
4 PCSK9 activity have significantly lower LDL  
5 cholesterol and a reduction in coronary heart disease  
6 events. So that's the information that we think is  
7 important to include in labeling. It's not that the  
8 patient needs to know their genotype necessarily. The  
9 only time the patients and the physician need to know  
10 their genotype would be if it's required for drug  
11 treatment. For example, a companion diagnostic.

12 JIM SMITH: Dr. Marzella

13 LOUIS MARZELLA: I had sort of a  
14 broad question regarding your appeal for more  
15 collaboration between FDA and industry. How do you  
16 see and practice this being realized, and do you have  
17 any concerns about potentially being able to carry  
18 this activity in a precompetitive space?

19 JENNIFER HAMILTON: Sorry, I missed  
20 the last part of that question.

21 LOUIS MARZELLA: The concern is  
22 always with being able to conduct such activity in a

1 precompetitive space. So I wonder if you have some  
2 thoughts about the practical challenges of this  
3 collaboration.

4 JENNIFER HAMILTON: So I think the  
5 collaboration needs to be on a non-product, non-target  
6 focus basis. It needs to be very general on the kinds  
7 of data that the industry is generating and how we can  
8 apply it to drug development. So I don't personally  
9 have any concerns that there's a competitive concern  
10 here. I think all of the industry wants to get  
11 together to figure out how we can use these data more  
12 effectively for smarter, more efficient drug  
13 development.

14 JIM SMITH: Dr. Roman

15 DRAGOS ROMAN: Do you see any  
16 potential benefit of using genomics in the  
17 constructing natural history studies, you know, in the  
18 area of precision medicine?

19 JENNIFER HAMILTON: We certainly do  
20 look at genomics as part of our natural history  
21 studies. And we not only look at genetics, which is  
22 what we focused on today, but we also look at

1 transcriptomics when we have tissue samples, for  
2 example, of the target tissue affected by disease that  
3 we can study. And I think that's another thing that  
4 we should be evaluating as part of how we can apply  
5 genomics. Not just limiting it to what we can learn  
6 from DNA, but from RNA transcriptomes as well.

7 NIKOLAY NIKOLOV: Hi, this is  
8 Nikolay Nikolov. Do you see a difference or do you  
9 make a difference between monogenic and polygenic  
10 diseases and how this approach might differ?

11 JENNIFER HAMILTON: That's a really  
12 good question. Obviously it's much more  
13 straightforward when we're talking about monogenic  
14 diseases. But when you're talking about something  
15 like lipid lowering, for example, which we know is  
16 polygenic, and there's even studies looking at  
17 polygenic risk scores for cardiovascular disease  
18 related to LDL cholesterol. There's still evidence  
19 that the inhibition of that pathway is associated with  
20 long-term health benefits. And so I think if  
21 something like LDL cholesterol for example is  
22 polygenic in a more general population when you're not

1 talking about patients with familial hypercholesterolemia  
2 for example. So we know it's polygenic in those cases.  
3 Yet the inhibition of this pathway very potently reduced  
4 LDL cholesterol and was associated with cardiovascular  
5 outcomes.

6 So although it's more straightforward  
7 to understand in a monogenic disease, I think we  
8 already have the example in a polygenic disease with  
9 the PCSK9 inhibitors.

10 JIM SMITH: Thank you very much.  
11 Oh, I'll take one more question from Dr. Birnkrant.

12 DEBRA BIRNKRANT: Thank you for  
13 your presentation. I think one of the main issues  
14 with using genomics data for regulatory approval are  
15 related to data provenance. So for example, are the  
16 data robust and reproducible, have the data been  
17 validated, is there a record of where the data came  
18 from and how the data were generated, annotated, and  
19 manipulated? Who owns the data? Can you say more  
20 about how the Regeneron Genetics Center and the UK  
21 Biobank capture these important features of data  
22 provenance and what standards you currently use?

1 JENNIFER HAMILTON: So what I can  
2 say is that those are all really important questions  
3 that I think need to be addressed by this partnership.  
4 So genomics data in general from these large  
5 consortiums, the companies that get involved with them  
6 have access to the data. The individual genetic data  
7 is not made public, and so for things like that, we  
8 cannot do that.

9 But the overall aggregation of that  
10 information and the interpretation of that information  
11 would all be part of an application. I think what is  
12 required for those standards is part of what we want  
13 to discuss in a collaborative way with you and other  
14 industry partners.

15 JIM SMITH: Thank you. And I'm  
16 sorry, I missed Dr. Yanof. Did you have a question?

17 LISA YANOFF: My question is along  
18 similar lines. Can you clarify at this time what is  
19 the -- how standardized is the technology to actually  
20 derive the data across the different databases?

21 JENNIFER HAMILTON: So there's a  
22 number of computational approaches that can be taken.



1 I think that part of, again, the discussion about the  
2 data that we use to support these kinds of claims, we  
3 have to talk about how to standardize that. There are  
4 many different ways to mine a data set, many different  
5 ways to discover and validate a data set. And so we  
6 need to develop the standards in order to establish  
7 how that should be done.

8 LISA YANOFF: My question is  
9 actually about the collection of the actual data. So  
10 the technology to assess the genetic profile. Is it  
11 the same method being used across all of these  
12 databases?

13 JENNIFER HAMILTON: So there are  
14 different ways to sequence. You can do whole exome,  
15 you can do whole genome. The approach that's taken by  
16 the Regeneron Genetics Center is to do whole exome  
17 sequencing, coupled with dense variant arrays and  
18 imputation. And the combination of those three  
19 approaches gives us essentially a whole genome  
20 sequence.

21 There may be other companies who maybe  
22 are not doing exactly the same thing that we are.

1 They may be focused on whole exome. Whole genome I  
2 think is coming as the technologies become faster and  
3 cheaper. So I would say that it's not totally  
4 standard across what everyone is doing. But certainly  
5 the way we manage those data and how we interpret  
6 those data is something that can be standardized.

7 JIM SMITH: Thank you very much for  
8 your presentation.

9 JUDITH PRESCOTT: Thank you for the  
10 opportunity to present today. I am Judith Prescott.  
11 I am an Executive Director at Merck and Company,  
12 safety assessment and laboratory animal resources,  
13 presenting today on behalf of the IQ DruSafe  
14 Preclinical Leadership Group on a broad industry  
15 perspective and proposal for cross-divisional guidance  
16 for the development of therapeutics for severely  
17 debilitating and life-threatening indications.

18 So as a high-level definition, SDLT  
19 diseases or conditions are those which cause major,  
20 irreversible morbidity and/or the likelihood of death  
21 is high despite available therapies. So this may  
22 include such indications or conditions as amyloidosis,

1 inborn errors of metabolism, and advanced stage heart  
2 failure. And because there is existing ICH guidance  
3 that facilitates the development of SDLT therapeutics  
4 for advanced cancer, today's presentation is focused  
5 on non-oncology SDLT conditions for which there is no  
6 adequate therapy.

7 For your reference, I've included a  
8 link to a publication at the bottom of this slide that  
9 does describe a proposal for a streamlined approach  
10 for the development of SDLT therapeutics.

11 So the rationale for the development of  
12 a cross-divisional guidance relates to the benefits of  
13 an agreed approach across the divisions and the need  
14 for a clear and early-defined development path for  
15 SDLT therapeutics.

16 Now, we certainly do acknowledge that  
17 there are existing guidances and FDA programs that do  
18 provide the opportunity for flexibility to expedite  
19 the development of these types of indications.

20 However, this does not obviate the need to seek  
21 regulatory input for each program on a case-by-case  
22 basis. And this can then cause delays in the

1 availability of SDLT therapeutics to patients.

2 And we're certainly not proposing the  
3 discouragement of these interactive communications  
4 between sponsors and the FDA, but proposing that in  
5 addition to the opportunity for that engagement and  
6 consultation, that clearly defining a development path  
7 and having consistency across the divisions will  
8 provide that baseline of regulatory expectations so  
9 that sponsors understand that and that will facilitate  
10 development.

11 I'd also like to point out that while  
12 this is a proposal for an FDA guidance, our clinical  
13 trials do often include countries outside of the U.S.  
14 And in this situation, obtaining global regulatory  
15 agreement in a timely manner is compounded in these  
16 situations. And as such, the tendency is then for  
17 sponsors to default to the most conservative position.

18 So by creating, developing a cross-  
19 divisional guidance at FDA and taking the lead on  
20 development of a harmonized international guideline,  
21 this will facilitate global development and benefit  
22 patients in the U.S. as well.

1                   So this proposal is not intended to  
2                   modify the benefit-versus-risk considerations that  
3                   currently apply to clinical trials, but it is rather  
4                   intended to focus on the patient and provide greater  
5                   flexibility for very serious conditions with adequate  
6                   therapy.

7                   So for example, by initiating first-in-  
8                   human in patients and allowing those patients to  
9                   extend their therapy beyond the duration of the  
10                  toxicology studies as long as they are deriving  
11                  benefit and not experiencing unacceptable toxicities,  
12                  this will enable earlier and continued patient access  
13                  to potentially beneficial therapies while maintaining  
14                  the standards of safety and efficacy.

15                  This would focus on those studies that  
16                  are essential to support patient safety in light of a  
17                  medical need and would allow rapid advancement to  
18                  proof of concept in patients. And by understand that  
19                  proof of concept, this would obviously contribute to  
20                  the benefit-versus-risk considerations and inform  
21                  decision-making by the patient in consultation with  
22                  their healthcare provider on trial participation and

1 continued therapy.

2 So as far as the development of a  
3 cross-divisional guidance, there are existing guidance  
4 documents that do provide a foundation for this. This  
5 includes ICH S9, the guideline as well as the Q&A for  
6 the development of therapeutics for patients with  
7 advanced cancer. And this guideline has greatly  
8 facilitated the development of these types of  
9 therapeutics.

10 There is also the FDA guideline for  
11 SDLT hematologic disorders. Again, this is for the  
12 non-clinical development of pharmaceuticals. And this  
13 is a guidance that demonstrates how this type of  
14 guidance may be developed for non-oncology  
15 therapeutics.

16 Now, because there is no broadly agreed  
17 or universally accepted criteria for SDLT conditions,  
18 and certainly criteria for severely debilitating, we  
19 do acknowledge that defining a scope for this type of  
20 guidance is going to be challenging, particularly in  
21 light of the fact that this is intended for cross-  
22 therapeutic application.

1                   However, by defining those criteria  
2                   that would be required for an applicable SDLT  
3                   condition and providing a list of examples, this  
4                   should define the limits and ensure the appropriate  
5                   application of the guidance.

6                   And again, I've provided as a reference  
7                   at the bottom of the slide a very recent publication  
8                   that does describe some of the considerations for  
9                   defining SDLT conditions as well as a proposal for  
10                  defining scope.

11                  So in summary, we believe that a cross-  
12                  divisional SDLT guidance would enable consistency  
13                  across the divisions for a shared therapeutic context  
14                  and will have significant impact for patients with  
15                  high unmet medical need. Thank you very much.

16                  JIM SMITH: Thank you. If I  
17                  might actually open with a question. Within SDLT  
18                  diseases, there are some that are perhaps quite  
19                  prevalent and others that are, as you know, very rare.  
20                  And there could be other factors or modulators if you  
21                  will within SDLT diseases that might influence  
22                  recommendations regarding what a development program

1 may look like, either from a non-clinical or a  
2 clinical standpoint. Could you share any thought you  
3 might have about what some of those factors may be  
4 since, as you said, not all SDLT scenarios are the  
5 same?

6 JUDITH PRESCOTT: Yes. So as far  
7 as the factors that would be considered as part of the  
8 development, is that your -- that is your question?

9 JIM SMITH: Right. What other  
10 features of an SDLT disease might modulate what the  
11 package might look like? So I threw out prevalence as  
12 an example. But perhaps rapidity of progression. But  
13 there could be other factors that from a policy  
14 perspective we might be interested in defining or  
15 providing recommendations about, what a package might  
16 look like under certain scenarios. And I'm wondering  
17 if you can articulate what some of those important  
18 scenarios or categories might be within SDLT.

19 JUDITH PRESCOTT: Right. So as far  
20 as those things that may -- it's the impact on the  
21 quality of life and, as you said, the progression, if  
22 it's rapidly-progressing. But it certainly should not



1 -- life expectancy, the duration of life expectancy  
2 should not be a consideration for that, particular  
3 criterion on life expectancy must be less than a  
4 certain duration, say a year for example. But those  
5 types of things if there is rapid progression to  
6 disability or the potential for significant injury or  
7 functional impairment would be a consideration. The  
8 factors such as whether it leads to major disability.  
9 And this would be such things as significant reduction  
10 in health-related quality of life, such as a patient  
11 having permanent loss of independence in their daily  
12 activities such as healthcare, would be a situation  
13 where there are recurrent hospitalizations due to the  
14 SDLT condition, or life-threatening conditions. Those  
15 would be the types of things for consideration.

16 JIM SMITH: Thank you. Dr. Roman:

17 DRAGOS ROMAN: There is a natural  
18 tension between the desire to go into drug development  
19 for SDLT conditions and rare diseases and the need to  
20 have some particular information that would ensure  
21 some safety of the to-be-developed drug. And I'm  
22 referring to safety in animal studies. And also we

1 have to recognize that in rare diseases there are very  
2 few patients, so the safety database would be very  
3 small. So this tension between not having enough data  
4 and going directly into humans or having data that may  
5 prove reassuring but at the same time  
6 will delay drug development, you know, create a  
7 natural tension that we all recognize. What would be  
8 in your view a reasonable balance between going faster  
9 into drug development and having a limited pharm-tox  
10 program and at the same time ensuring patient safety?

11 JUDITH PRESCOTT: Thank you for  
12 your question. So I appreciate that need for the  
13 balance. Right? Certainly this is not intended to  
14 compromise the standards for safety or efficacy. And  
15 so that balance I think has been achieved very well in  
16 the area of oncology for advanced cancer. And so  
17 given that the medical context for these SDLT  
18 conditions is similar to that, I do think that the ICH  
19 S9 does provide an example by where you can have -- so  
20 as a proposal, you could still have a complete package  
21 of the non-clinical data to go into first-in-human.  
22 One-month tox studies, your gene tox package. You

1 could build your safety pharmacology endpoints onto  
2 one of your tox studies. And you would have that  
3 package going to patients directly, and then you could  
4 extend those patients. And that would be the  
5 situation, because of that risk benefit and the unmet  
6 medical need, that they would stay on therapy if  
7 they're deriving benefit and not having unacceptable  
8 toxicities beyond that one month duration, similar to  
9 what is done now for advanced cancer. And the proposal  
10 is that in general you consider the risk versus  
11 benefit in light of the unmet medical need. And so  
12 you would not compromise the safety, but consider what  
13 are those nonclinical studies, for example, that are  
14 needed in the context of the unmet medical need in  
15 order to inform clinical monitoring and would be  
16 meaningful to clinical monitoring.

17 So for example, you could potentially  
18 waive some studies that wouldn't be meaningful in that  
19 situation.

20 JIM SMITH: Thank you. I think  
21 there's actually a few more questions. And just to  
22 keep us on time, I'm going to ask that we keep the

1 questions brief and the responses succinct so we can  
2 keep moving. But Dr. Bastings?

3 ERIC BASTINGS: My question was  
4 very much about whether the idea was to apply the non-  
5 clinical approach for oncology SDLT or whether there  
6 would be a specific approach for the non-oncology  
7 indications. So I started to hear beginning of a  
8 response about this. But my question was really  
9 whether there would be a specific framework developed  
10 for the situation or whether we would simply adopt the  
11 oncology approach:

12 JUDITH PRESCOTT: So I'm sorry, I  
13 did not quite follow your question. You're asking the  
14 framework for whether it would be across all therapy  
15 areas?

16 ERIC BASTINGS: I was asking  
17 whether you would simply use the oncological approach  
18 to the non-oncology indications, or whether you would  
19 develop a specific framework for the non-oncology  
20 indications.

21 JUDITH PRESCOTT: Thank you very  
22 much. Yes. So I think that the oncology approach for

1 advanced cancer does serve as a very good foundation  
2 for that. There may be some aspects of that for  
3 consideration. But it is a very good framework for  
4 that.

5 JIM SMITH: DR. Yanoff?

6 LISA YANOFF: Thank you. So going  
7 back to your Slide 3 where you outline that lack of  
8 divisional agreement on development plans can lead to  
9 delay. And then you say this results in case-by-case  
10 considerations for each program. I think it would be  
11 helpful for us as we develop policies to know in a  
12 little more detail about that, whether those  
13 difference you feel were really related to the things  
14 possibly Dr. Smith outlined about differences in the  
15 diseases. Or can you give us an example of where you  
16 felt that those differences should not have mattered  
17 and yet different decisions were made?

18 JUDITH PRESCOTT: So without giving  
19 a specific example, I think that -- so the intent here  
20 is really that having that broad agreement across the  
21 divisions and for sponsors to really understand what  
22 the regulatory expectations will be for these types of

1 conditions serves as a baseline by which they can then  
2 understand how to make decisions regarding  
3 development.

4           So for example there may be one  
5 division that takes a much more conservative approach  
6 than another. But there is that uncertainty that  
7 sponsors have prior to first-in-human not really  
8 understanding what are going to be the regulatory  
9 expectations, because it's very much case-by-case.

10           So I think that combination of having  
11 that baseline understanding of what generally are the  
12 regulatory expectations in conjunction with the  
13 opportunity to have the interactions with FDA would  
14 really facilitate development. And it would do this  
15 in a manner whereby for one example they're in a  
16 recent publication by OHA which actually came out last  
17 month. They indicated that even for some sponsors  
18 that pre-IND meetings, sometimes the IND meeting -- or  
19 when they submitted the IND, it went on clinical hold.  
20 And so having an understanding of the regulatory  
21 expectations going in would give sponsors an  
22 understanding of what are the critical questions to

1 ask in that pre-IND meeting. Because if you don't ask  
2 those critical questions, which could happen,  
3 particularly with sponsors with less drug development  
4 experience, they could still go on clinical hold with  
5 submission of the IND. And so that's a manner in  
6 which if you had that general understanding going in  
7 rather than a case-by-case determination, that that  
8 would enable sponsors to understand the right  
9 questions to ask.

10 JIM SMITH: Thank you, Dr.  
11 Prescott. And that actually was the last question.  
12 So I appreciate your presentation today.

13 PAUL MELMEYER: Good morning, everybody.  
14 I am Paul Melmeyer. I am the Director of Regulatory  
15 Affairs at the Muscular Dystrophy Association. Just  
16 a moment or two about us before getting into the topic  
17 of the presentation.

18 The Muscular Dystrophy Association  
19 serves the neuromuscular disease patient community in  
20 a variety of ways, but really focusing on innovations  
21 in science and innovations in care. Within science,  
22 we are one of the main research funders of

1 neuromuscular disease research, primarily focused in  
2 clinical research, doing everything we can to bring  
3 new treatments to the neuromuscular disease patient  
4 community. And then within innovations in care, we  
5 have a network of care centers. That's about 150 care  
6 centers across the United States that actually provide  
7 care and support to those within the neuromuscular  
8 community.

9           So within neuromuscular diseases, we're  
10 in a bit of a Dickensian situation in which it's the  
11 best of times and worst of times. And I'd like to  
12 start with the good news. The good news is that we  
13 have really seen some unprecedented developments of  
14 neuromuscular disease therapies and approval over the  
15 course of the last five years. By our estimation,  
16 there are 11 therapies on the market currently for  
17 neuromuscular diseases, eight neuromuscular diseases  
18 in total. And eight of those have actually been  
19 approved within the last four years. And we're  
20 hopeful and encouraged for new therapies coming to  
21 market over the course of the next several years.

22           The challenge is that there are still



1 plenty of challenges within neuromuscular diseases.  
2 And even though we have eleven therapies available  
3 currently for eight diseases, there are still over 43  
4 diseases within the overall neuromuscular disease  
5 family, I guess you could say, many of which are not  
6 enjoying the same therapeutic developments.

7 In addition to that, many are quite  
8 variable. And when you talk about mitochondrial  
9 disease, for example, whereas that could be considered  
10 one disease, it's actually over 600 different diseases  
11 with a variety of different genetic underpinnings.

12 And that brings me to the unique  
13 challenges that we find within neuromuscular diseases.  
14 There's still a stark lack of disease understanding in  
15 many neuromuscular diseases, including amyotrophic  
16 lateral sclerosis, for example, mitochondrial diseases  
17 for another, and a variety of others. Within these  
18 same diseases, there are oftentimes lack of biomarkers  
19 which make it difficult for the variety of innovative  
20 opportunities in development to be taken advantage of,  
21 such as the use of the accelerated approval pathway  
22 within many neuromuscular conditions. There is

1 extensive heterogeneity within neuromuscular diseases  
2 that oftentimes can be originated from a variety of  
3 genetic and mitochondrial underpinnings. Oftentimes  
4 we're talking about extremely small patient small  
5 populations with specific genetic mutations. Maybe  
6 only a handful of individuals in the entire United  
7 States maybe the entire world with that specific  
8 condition.

9           Neuromuscular disease can be incredibly  
10 severe. Oftentimes for individuals born with certain  
11 neuromuscular diseases, they can lead to death,  
12 unfortunately, quite quickly.

13           Oftentimes pediatric populations are  
14 included within neuromuscular diseases. Some  
15 neuromuscular diseases are centered in pediatric  
16 populations. And we still oftentimes are using  
17 archaic endpoints within trials within neuromuscular  
18 diseases. Uniformly within the neuromuscular  
19 community certain endpoints such as the six-minute  
20 walk test are strongly disliked, yet we are still  
21 using them within development.

22           So the way that we see developments

1 going within neuromuscular disease is we're excited  
2 for the advent of a variety of innovative approaches.  
3 And this is really just a smattering of these. And of  
4 course these approaches are nothing new to the panel  
5 in front of me. I don't expect anyone to slap their  
6 forehead and think, oh, of course we didn't think of  
7 that, of course the FDA knows each and every single  
8 one of these. But we still believe it's important for  
9 the patient community to be emphasizing that these  
10 flexible approaches should be used across all  
11 neuromuscular diseases.

12 We are excited for the advent of  
13 platform trials within neuromuscular conditions. We  
14 are aware of at least two ongoing right now, one being  
15 within ALS, another within Duchenne muscular  
16 dystrophy, which is co-led by I-ACT for Children, the  
17 Critical Path Institute, and Parent Project Muscular  
18 Dystrophy.

19 We are also excited for the  
20 implementation of adaptive clinical trial designs,  
21 open label studies, and the further implementation of  
22 broad trial eligibility criteria. We are hopeful for

1 broad use of expanded access programs in situations in  
2 which perhaps broad trial eligibility criteria can't  
3 be utilized in specific neuromuscular conditions.

4 Remote and mobile data collection is  
5 going to be particularly important within  
6 neuromuscular diseases due to the ambulatory  
7 challenges of individuals actually getting to trial  
8 sites.

9 The use of patient-preferred endpoints  
10 is accelerating, and we also hope for the rapid trial  
11 timelines due to the severity of the diseases that  
12 we're discussing.

13 So on to our request specifically for  
14 FDA. What we do hope for is a more structured  
15 transparency in extensive disease-specific guidance  
16 development process. What we have seen already within  
17 neuromuscular is a handful of diseases-specific  
18 guidances coming to finalization, coming to fruition,  
19 including Duchenne muscular dystrophy and most  
20 recently ALS. We are hopeful that additional disease-  
21 specific guidances can be developed within the  
22 remainder, or at least when appropriate, the remainder

1 of neuromuscular disease conditions. We understand of  
2 course that community shares responsibility in this.  
3 There's opportunities for the community to develop  
4 disease-specific guidances, and that's oftentimes  
5 happening within neuromuscular conditions. But we  
6 also are hoping for a collaborative relationship with  
7 FDA in doing so.

8           And once guidances are developed and  
9 finalized, we are closely watching the full  
10 implementation of those guidances and the mechanisms  
11 discussed within to ensure that those within the  
12 industry and the drug development community are  
13 actually able to use the mechanisms that have been  
14 informally endorsed, one could say, by FDA within the  
15 guidances.

16           And not only that the guidances are  
17 fully implemented, but they're implemented  
18 consistently across review divisions, as we've been  
19 hearing from two of our previous speakers.

20           We are curious and we're eager for  
21 patient and advocate engagements to be fully  
22 implemented within the new CDER structure. We're

1 really looking forward to working with the New Policy  
2 Office within OND as well as better understanding  
3 specifically how the new structure within OND is going  
4 to be impactful on patient engagement within these new  
5 divisions and new offices.

6           And then finally, just continued  
7 collaboration with the patient community. We have  
8 enjoyed a very strong collaboration with a number of  
9 offices within FDA, including the PACE office, the  
10 office responsible for patient-focused drug  
11 development meetings and the associated initiative,  
12 and the patient affairs staff within the Office of the  
13 Commissioner.

14           So just a moment on MDA's role in all  
15 this. Of course we're here for that patient education.  
16 We are here to work with the patients in bringing them  
17 up to speed on everything that FDA is doing and all the  
18 opportunities that FDA offers to those within the  
19 neuromuscular community.

20           We are here to support and participate  
21 within these patient participation opportunities to  
22 offer regulatory and policy support if appropriate and

1 if warranted. We also are conducting an externally-  
2 led patient-focused drug development meeting in Pompe  
3 disease on March 9th. We are collecting patient  
4 preference information through our OneVOICE program,  
5 and finally, our neuromuscular disease observational  
6 research data hub, which, as you can see, became MOVR,  
7 which is an incredibly tortured acronym, so apologies  
8 for that. But this gathers genomic and longitudinal  
9 clinical data across diseases to hopefully better  
10 track the natural history of the disease and do  
11 everything that is cited here. Thank you.

12 JIM SMITH: Thank you very much.

13 Dr. Singh?

14 HARPREET SINGH: On Slide 8 you  
15 talk about remote and mobile data collection. And I  
16 was wondering if you could describe the efforts that  
17 MDA has been involved in thus far to decentralize  
18 clinical trials or in essence bring the trial to the  
19 patient in terms of technology and infrastructure.

20 PAUL MELMEYER: Absolutely. So  
21 we're excited for some of the innovations happening  
22 within this area, including on clinical outcomes

1 assessments that would actually allow for some of the  
2 data within clinical trials to be collected at home  
3 rather than within the clinic or within whatever venue  
4 a patient would otherwise be requested to go to.

5 We have our clinical care centers, as I  
6 mentioned earlier, and clinical care coordinators that  
7 work very closely with patients. And really from them  
8 hearing specifically the challenges that clinical  
9 trial participant oftentimes brings. And from that we  
10 are taking those lessons and implementing that within  
11 our policy and regulatory efforts such as talking  
12 about it here, but also within our research efforts  
13 and working with the industries that could be  
14 developing these tools, collaboratively working to  
15 ensure that these tools are getting to patients as  
16 quickly as possible, being used within clinical  
17 trials, and then can be also if not endorsed, at least  
18 accepted by FDA within the context of these clinical  
19 trials.

20 JIM SMITH: One more question from  
21 Dr. Roman.

22 DRAGOS ROMAN: One of the



1 difficulties of conducting clinical trials in small  
2 patient populations is the availability as well as the  
3 small numbers. So you seem to advocate on one of the  
4 slides the need for broadening inclusion criteria. Do  
5 you have a solution for that or a recommendation how  
6 that can be achieved?

7 PAUL MELMEYER: Absolutely. So we  
8 understand enrichment studies are still oftentimes  
9 necessary and oftentimes the best way forward in  
10 achieving clear safety and effectiveness signals from  
11 innovative therapies. If it is impossible due to the  
12 heterogeneity of a disease for truly broad eligibility  
13 criteria, that is when we would want to see broad  
14 expanded access being explored by FDA as well as the  
15 company with the therapy. As well as just additional  
16 considerations of if there is to be kind of a central  
17 trial in a sense of the data that's going to be  
18 primarily generated from a very small patient  
19 population due to the heterogeneity of the disease,  
20 that there can be additional arms of the trial that  
21 could be considered for those who don't necessarily  
22 qualify due to the heterogeneity, but could still

1 benefit from the therapy nonetheless.

2 JIM SMITH: And last question from  
3 Dr. Bastings.

4 ERIC BASTINGS: An approach that  
5 can be used in that situation is to enroll a broad  
6 population but conduct the primary analysis in a more  
7 defined, maybe biomarker or some other way. So do you  
8 endorse that kind of approach of enrolling a broad  
9 population but possibly doing the primary analysis in  
10 a more restricted population?

11 PAUL MELMEYER: Yes. Primarily we  
12 are interested in any therapy that's coming to market  
13 having the most appropriate but broadest label  
14 available and possible for patients so that that can  
15 then result in wider patient access upon arrival to  
16 market, hopeful arrival to market. So if that means  
17 that we are able to use that approach which will then  
18 generate a label that can be most useful to patients,  
19 then that is something that we support, absolutely.

20 JIM SMITH: Thank you very much for  
21 attending today and sharing your thoughts.

22 ART KRIEG: Hi. I'd like to talk

1 now about oligonucleotide therapeutic programs. And  
2 in the first part of my talk, I'm going to talk about  
3 programs for ultra-rare diseases, including conditions  
4 with single patients who have unique mutations that  
5 don't occur anywhere else. And I'm going to be making  
6 a proposal inviting the OND, and we'll be reaching out  
7 to other stakeholders at the FDA, as well as non-  
8 commercial stakeholders, including people who may be  
9 here at this meeting, to join us at a workshop to  
10 advance this discussion beyond what we can do in this  
11 short talk today. We're planning on holding this in  
12 the Bethesda area in March or April of next year. And  
13 then we have a Doodle poll set up for those of you who  
14 may be so inclined to indicate your availability for  
15 that.

16 For this first part of my talk, my  
17 affiliation that's relevant is I am a co-founder and  
18 past president and a board member of the  
19 Oligonucleotide Therapeutics Society and I'm also on  
20 the faculty of the RNA Therapeutics Institute at the  
21 University of Massachusetts, where we have an interest  
22 in this.

1           So a brief background on the OTS. This  
2           is a nonprofit organization founded 15 years ago with  
3           a mission to foster academia and industry-based  
4           research and development of oligonucleotide  
5           therapeutics, bringing together industry and academia.  
6           Because we really need both in order to make progress  
7           there.

8           Our website is shown. We have about  
9           500 members. We are very international and roughly  
10          evenly divided between academia and industry. We just  
11          had our annual meeting last month in Munich, where  
12          there were over 600 attendees. We had 154 poster  
13          presentations and so forth. And we make a special  
14          effort to involve students and post-docs there. So we  
15          provide funding for their travel awards.

16          We have a journal, we are on Twitter.  
17          And our members are very passionate about the  
18          potential for oligonucleotide therapeutics.

19          It's been a long time for our field.  
20          Since the dawn of this when the first companies became  
21          involved, investor started getting into this, was in  
22          1989. And at that time the only tools that we really

1 had in terms of the chemistries were basically  
2 phosphonothioate modification to native DNA and RNA,  
3 which are too rapidly degraded to really make useful  
4 drugs. And with the phosphonothioate chemistry  
5 briefly, it just really wasn't up to the task of  
6 making therapeutics. It's very difficult to get it to  
7 work. It's taken billions of dollars invested into  
8 the field in the decades since then to reach the point  
9 that we're at today, where we now have multiple  
10 different chemistries that work as modular building  
11 blocks.

12 So that's one of the neat things about  
13 oligonucleotides. Because we're targeting the genetic  
14 code, RNA or DNA, once you have building blocks like  
15 this, you can target any gene, you can target any  
16 mutation using those same building blocks just  
17 rearranged to whatever the target is that you're going  
18 after.

19 So in the early decades of technology,  
20 there was failure after failure. We now have multiple  
21 approved drugs, most of them just in the last three  
22 years, including multiple different platforms. And

1 there's not time to go through all of these, but the  
2 earliest platform was antisense oligonucleotides. And  
3 there's two major types of those. Gapmers, cleave  
4 targets -- and there's several approved drugs based on  
5 that mechanism, and there's a second platform based on  
6 blocking targets; Mixmers and the exon skipping oligos,  
7 Nusinersen and eteplirsen are good examples of that.  
8 So those are two very robust platforms. Those can be  
9 used for many more targets and many conditions. And  
10 in addition to that, we have RNAi, the latest generation  
11 of RNAi compounds. Two doses a year can provide  
12 therapeutic effects, again, across a wide range of  
13 targets. And these are technologies right now that  
14 are highly effective for liver targets. They're  
15 becoming effective in the CNS, and they'll be  
16 extending out to other tissues as well.

17 And the other platforms I won't talk  
18 about, but there's multiple different technologies  
19 coming together here. And the innovations are not  
20 over now; they're continuing and that's going to be  
21 accelerating.

22 So where does the field go? Well, the

1 companies are leading the way here for the common  
2 diseases. What I want to talk about today is how do  
3 we extend this technology to diseases that are not  
4 common, where there is no commercial incentive.

5 Because unlike any other drug platform for therapeutic  
6 development, oligonucleotides are fast and they're  
7 relatively cheap. For small molecule drug discovery,  
8 it takes an army of chemists years and years to get a  
9 new drug. For biologics it takes a smaller group, but  
10 it's still years and years, and it's very expensive.

11 Oligonucleotides, because we're targeting the genetic  
12 code, you can accelerate that process and a single lab  
13 can actually generate a new therapeutic to treat a  
14 condition.

15 An example of that that you're going to  
16 hear more about from the next speaker is Mila, a young  
17 girl with Batten disease. Mila had a unique genetic  
18 mutation never before reported. And Tim Yu, a  
19 professor at Boston Children's, recognized that that  
20 mutation could be addressed with an oligonucleotide  
21 therapeutic. Now, Dr. Yu had never worked on  
22 oligonucleotides before. He had no prior experience

1 in this field. But he reached out to people who did,  
2 including a lot of our members. And within less than  
3 a year from when he identified the mutation, he  
4 conducted the screening of the oligos and actually  
5 generating cells from Mila and so forth, and began  
6 therapy within less than a year from identifying that  
7 mutation. Only oligonucleotide therapeutics make that  
8 possible.

9 Now, Mila is the first of the patients  
10 who are going to be treated with this kind of  
11 approach, but there are many others. And what I want  
12 to spark your excitement about today is how do we  
13 extend this to the thousands and tens of thousands of  
14 other people out there who could benefit from these  
15 technologies. And that's why we're having this  
16 meeting early next year, because we really think  
17 there's huge potential here. And the companies aren't  
18 going to lead the way. We need to bring together  
19 other stakeholders who see this potential and can  
20 bring the resources to extend this to more patients.  
21 And that's why we want to have this meeting.

22 So we're thinking start out 40 to 80



1 attendees. I don't know how many people we're going  
2 to have. The Doodle poll just opened a few days ago  
3 and people are signing up. The objectives are really  
4 going to be to define a process to connect patients  
5 and other stakeholders with the resources for this.  
6 We've already been getting emails from academic  
7 centers around the country and internationally who  
8 have read the paper in the New England Journal a  
9 couple of weeks ago reporting Mila's case and want to  
10 know how they too can help patients at their  
11 institutions take advantage of the potentials of these  
12 technologies.

13           Now, I don't want to trivialize this.  
14 Most patients with rare diseases are not likely to  
15 benefit from this kind of a therapeutic. And the  
16 first challenge here I think is to establish the  
17 criteria for patient enrollment; what patients are we  
18 going to start off treating with these technologies?  
19 Then we have to have a process for selecting patients  
20 for this. The worst thing in the world I think would  
21 be to have something like these stem cell clinics  
22 springing up all over the place that are offering

1 therapies with very little scientific benefit. I  
2 think this has to be rigorously, scientifically  
3 driven. There have to be people who are making  
4 decisions on what patients are going to come into this  
5 based on a risk-benefit analysis. And we have to  
6 establish standardized protocols for how the oligos  
7 are screened, the CMC, the safety testing, and so  
8 forth. And we have to establish then pathways for  
9 patient dosing. This all has to be done in dialogue  
10 with the FDA of course.

11           Secondly, in my remaining ten seconds,  
12 I'm just going to comment that my other affiliation is  
13 I am at Checkmate Pharmaceuticals. We are doing  
14 cancer immunotherapy. And there was a seminar just  
15 yesterday on approaches to neoadjuvant treatment that  
16 was co-hosted by the FDA and the MRA. And for those  
17 of you who aren't already aware of that, I think  
18 there's a great need there for developing new  
19 endpoints, surrogate endpoints for approval of new  
20 cancer immunotherapies. Thank you very much for your  
21 time today

22           JIM SMITH: So perhaps I can ask

1 you about the second part that you didn't have time to  
2 get to briefly. It appears from your slide, you're  
3 addressing the challenges of early stage cancer  
4 therapies. And I'd like to broaden that, that many  
5 chronic diseases may have an early stage where there  
6 is a potential to benefit was well, to either halt,  
7 slow the progression, or even reverse the disease.  
8 And as you point out on your slide, that could lead to  
9 lengthy clinical trials and whatnot. Do you have any  
10 recommendations for the type of a data package that  
11 might support a surrogate endpoint in that situation?

12 ART KRIEG: Yes. Well, let me  
13 first start by where the field is at right now, at  
14 least for neoadjuvant cancer. The first approval of  
15 neoadjuvant was in breast cancer where the first  
16 approved drug was based on a data set of around 10,000  
17 patients followed for close to a decade. And the drug  
18 that was approved there I believe had already been  
19 approved in the advanced metastatic setting.

20 And what I've heard from individuals  
21 with a strong regulatory background, which is not me,  
22 is that in order to get a new drug approved, maybe

1 it's going to be a little less of a data set than  
2 that. But when I'm talking to investors to invest in  
3 our company -- because we are a small biotech company,  
4 we have to raise money to run these programs. The  
5 investors can see how the pathway goes forward for the  
6 advanced setting. For the neoadjuvant setting, they  
7 can't see that. Because the only understanding they  
8 have of a data set to approve a surrogate endpoint is  
9 based on the breast cancer example. And we're not  
10 going to be generating data sets like that in a small  
11 biotech company. And even large pharmas are scared of  
12 getting into something like that, because it takes so  
13 long and costs so much money. When you're thinking of  
14 other investments, that's not where you're going to  
15 put your money.

16 JIM SMITH: Thank you. In the interest  
17 of time -- I know we have to more talks before our break.  
18 So thank you very much for presenting today.

19 JULIA VITARELLO: My name is Julia  
20 Vitarello, and I am Mila's mother. And thank you, Art  
21 for painting a bit of the backdrop of Mila's story.

22

1                   Three years ago, Mila was diagnosed  
2 with Batten disease, which is a rare and fatal  
3 neurodegenerative condition. There were no  
4 treatments. There was no cure. I was told that my at  
5 the time very outgoing, seemingly incredibly healthy  
6 little daughter, who was skiing and hiking and singing  
7 the ABCs like every other kid, was going to lose all  
8 of her abilities and die in five years.

9                   And there's a lot more I could tell you  
10 about what happened over the last three years, but  
11 really what's most important is that in the year  
12 following her diagnosis, as you just saw from Art's  
13 presentation, an incredible effort was made by Dr.  
14 Timothy Yu and his team at Boston Children's Hospital,  
15 along with many others, to give Mila the chance at a  
16 treatment that was looking very promising for her in  
17 the lab.

18                   And at that same time, Mila was losing  
19 her abilities by the month, even by the week. She  
20 lost her vision completely, she lost her ability to  
21 speak. She was losing her ability to swallow and to  
22 walk at the same time that a promising drug was

1 getting close to be able to happen.

2 And as a mother, as Mila's mother, I  
3 faced the question of the risk of treating Mila versus  
4 the risk of not treating Mila. And the answer to that  
5 was very, very simple for me. The risk of not  
6 treating Mila was very obvious every day. Her life  
7 was suddenly taken over by seizures, which took up  
8 most of the 12 hours of waking time that she had a  
9 day. She was losing everything so incredibly quickly  
10 that I knew exactly what her course was going to look  
11 like over a very short period of time. And no child  
12 with this form of Batten disease has ever lived.

13 So what happened afterwards was that  
14 Mila ended up receiving this treatment, which was a  
15 drug called Milasen. And she received that starting  
16 almost two years ago. And Mila was seven going into  
17 this treatment, which has quite progressed. But  
18 despite that, we have seen some really promising  
19 signs. And because of Milasen, which we have learned  
20 since then was the very first drug tailored to one  
21 single patient, Mila's quality of life and our life as  
22 a family -- I'm just incredibly grateful for where we

1 are today. Mila turned nine years old two days ago,  
2 which was a big milestone for us.

3 And I'm here to thank the FDA. Because  
4 the entire team at the FDA was incredibly thoughtful.  
5 They listened. They were very careful in  
6 understanding the risk-benefit analysis for Mila.  
7 They ensured that -- all of you ensured that Mila's  
8 drug was rigorously tested and that it was safe for  
9 Mila, but at the same time understood the urgency and  
10 the promise that this drug possibly offered. So thank  
11 you for being collaborators, for being partners with  
12 Dr. Yu and his team and all of us in really assessing  
13 her specific situation and allowing us as a family and  
14 as a nine-year-old girl to have a second chance at  
15 life.

16 I'm always very honest about Mila's  
17 situation. I don't know what her future holds. She  
18 was seven years old when she began this treatment.  
19 She had lost a lot. But despite losing a lot before  
20 that, it was a long shot. I have seen a lot of  
21 promising things. It is not black and white; Mila's  
22 life is not magically perfect. But many of her

1 symptoms have -- some of them have greatly improved.  
2 Some are stable. Some are not doing as well as I'd  
3 like, but we're learning. Because Mila is the first,  
4 and we have to learn a lot about dosing. And as Art  
5 implied, this is promising, but there is still a lot  
6 to learn.

7 So I just really wanted to thank the  
8 FDA. I went into this thinking that the FDA were  
9 these guards at the gate and that maybe wouldn't get  
10 through. And I realized afterwards that this was a  
11 discussion, it was a collaboration with Dr. Yu and his  
12 team, and it was an explanation of the potential of  
13 the drug, but also where Mila's life was headed and  
14 what her risk of not treating was like.

15 And so to end, I just wanted to show a  
16 very short little compilation of very recent, in the  
17 last probably month or two, videos. Just short,  
18 little video clips and photos of what Mila's life is  
19 like, really thanks to you allowing Mila this  
20 opportunity to have Milasen.

21 For right now it sounds like the audio  
22 is not working. So we're going to do it without the



1 sound, which is actually fine. And if we can fix it  
2 later, that's great.

3 (Video plays)

4 JIM SMITH: Thank you very, very  
5 much for being here. If I could ask one question. We  
6 would anticipate that parents, family members, other  
7 patient advocates would want to engage with FDA in  
8 partnership along with our academic investigators in  
9 future situations where individualized therapies are  
10 being developed. From your experience, what went well  
11 and where might we improve in future cases?

12 JULIA VITARELLO: That's a really good  
13 question. I think Dr. Yu and I have had an ongoing  
14 very good relationship of -- Dr. Yu has been very  
15 honest and upfront from the very beginning of the  
16 promise that Milasen might offer, but also the reality  
17 of this, that we really don't know that much. And we  
18 went into this knowing that Mila's cells -- you know,  
19 her brain cells, some of them were in a process  
20 probably of dying that may not be able to be stopped,  
21 and other ones were potentially in a place where they  
22 could be stopped, and that Mila's disease is obviously

1 extremely complicated, and there are many different  
2 symptoms, and that this was not a magic cure and that  
3 our hope was that we could potentially stop this  
4 disease. And in fact what we're seeing right now is  
5 in line with what we had talked about from the very  
6 beginning, which is that some symptoms have improved,  
7 some of them have stabilized, and some of them are not  
8 doing as well. And I think that setting expectations  
9 from a PI to a family is extremely important, as you  
10 know. And I'm sure I don't need to tell all of you  
11 that when you have a parent like myself who is being  
12 told that their child is going to die, it's something  
13 that I can't even put into words. I mean, the rest of  
14 my life just disappeared that day. And so you cling  
15 to anything that looks like hope.

16           And I think that in this case, like Art  
17 explained, is there is actually in fact a lot of  
18 promise in this platform. But we have to be  
19 realistic, because every disease is different. We  
20 don't know until more children -- I hope many more  
21 children across many diseases are given an opportunity  
22 like this. Hopefully it will be in time for them, and

1 hopefully we'll learn a lot from this to understand  
2 what we can stop and help and improve and what we  
3 can't possibly.

4           And expectations for parents is really  
5 important. Communication between PIs and parents,  
6 very transparent expectations, even to the point where  
7 up until honestly days practically before we moved to  
8 Boston to begin Mila's treatment, Dr. Yu did not  
9 promise this was going to happen because he was  
10 working with all of you. This had never been done  
11 before. And we didn't even buy plane tickets. You  
12 know? We moved at the very last minute. And so  
13 transparency, honesty, is incredibly important.

14           And I can't speak for Dr. Yu, but I  
15 know that Dr. Yu and his team and myself all took a  
16 very honest and collaborative approach with all of you  
17 guys. And I know that you all did the same with us in  
18 the sense that we told it exactly as it was. I wrote  
19 a letter and I gave it to the FDA through Dr. Yu that  
20 really explained towards the end this is where Mila is  
21 today and this is where she was three months ago. And  
22 I listed very specific symptoms. And I was very

1 honest in that letter, and a little bit nervous, to be  
2 honest, to say the truth, which was I didn't know if  
3 we started this treatment even one month later whether  
4 or not it was in time for Mila and I might change my  
5 mind. And that was honest. And so that would be kind  
6 of my advice.

7 JIM SMITH: Thank you very much again.

8 JANICE SORETH: Good morning. I am  
9 Janice Soreth, a former FDA-er for life, and now back,  
10 two years after having retired from FDA in the role of  
11 a consultant and advisor to industry.

12 Thank you, Dr. Cavazzoni, and your team  
13 of organizers for letting me present today. It's  
14 truly an honor and a privilege. It's especially an  
15 honor and a privilege to be the last speaker before  
16 the break. So I'll try to cut to the quick with  
17 regard to my specific actionable policy  
18 recommendations.

19 But before I get to them, let me take  
20 us back in time a bit to when FDA rose to the occasion  
21 to address in a timely fashion an area of unmet  
22

1 medical need. And I'm speaking here to HIV infection  
2 and the AIDS crisis that prompted an epiphany when HIV  
3 infected patients and their advocates made it  
4 perfectly clear that the patients are waiting, time is  
5 of the essence, and the usual development path and  
6 rules and regulations wouldn't suffice and didn't  
7 apply.

8           It was a hard-won epiphany, patient-  
9 led, patient-focused, with a clear articulation of the  
10 level of risk that patients were willing to shoulder.  
11 And it catalyzed a timely collaboration and  
12 communication amongst the clinicians and scientists of  
13 all types within the agency and necessarily with  
14 sister agencies and the whole clinical trial network  
15 of patients, academicians, treating physicians, and  
16 healthcare professionals and industry, to rapidly get  
17 to a point to translate what we understood of the  
18 science at the time into a clinical trial with  
19 endpoints, surrogate markers, surrogate endpoints that  
20 appeared to be likely predictive of clinical benefit.

21           My focus in making three specific  
22 recommendations for consideration for policy pivots

1 has to do with patients at this time in various  
2 therapeutic areas, adults and children, areas of unmet  
3 medical need where there are no approved treatments or  
4 there may be some, but they are woefully inadequate,  
5 but that's all that we have.

6 So my first recommendation is for  
7 further enhancement and streamlining of the process  
8 for identifying and validating surrogate endpoints.

9 My second recommendation is particular  
10 to those areas of unmet medical need in children,  
11 specifically those pediatric conditions or diseases  
12 that primarily occur in children, or they occur in  
13 both children and adult populations, but they're  
14 different enough in kids in terms of their  
15 presentation or progression such that extrapolating  
16 from an adult efficacy trial isn't the way to go. It  
17 won't suffice.

18 And the recommendation is that in those  
19 scenarios that the agency permit the pediatricians in  
20 the agency to take the regulatory lead. I say that as  
21 a trained general internist. So I'm speaking from my  
22 own depth of ignorance when it comes to understanding

1 pediatric disease, taking care of pediatric patients  
2 over and above my own two kids.

3 And last but not least, my third policy  
4 pivot for consideration is that consistently agency  
5 clinical and scientific leads be proactive and willing  
6 to be part of the scientific development that  
7 translates into clinical trials, an endeavor that  
8 invariably involves risk-taking.

9 I said I would be succinct, and that's  
10 it.

11 JIM SMITH: Thank you very much.  
12 Dr. Yao?

13 LYNNE YAO: Thanks, Janice. Good  
14 to see you. I have a question for you about the  
15 pediatric recommendation you made. I'm fascinated  
16 about it. Could you elaborate more about what you  
17 mean by taking the pediatric experts and FDA taking  
18 the lead there?

19 JANICE SORETH: I can speak to this  
20 directly from when I was on your side of the table.  
21 And I think that my own observations are that there is  
22 inconsistency in different therapeutic areas,

1 different offices or divisions. And this is not to  
2 point to anyone in particular, but simply to say that  
3 there is inconsistency at the end of the day with how  
4 the pediatric input is taken. And at times it was  
5 taken as a recommendation, take it or leave it. At  
6 times directors of a given endeavor who themselves  
7 were not experts in the pediatric domain took it or  
8 didn't take it.

9 And I think in those arenas where the  
10 pediatric expert is the expert in the given disease or  
11 condition, it's more than a recommendation, or I'd  
12 like to see it be consistently viewed as more than a  
13 recommendation, like this is the way to go.

14 I think along the lines of if you don't  
15 play the game, how can you be expected to make the  
16 rules. So without getting into nitty-gritty, that's  
17 what I'm talking about; that there would be a policy  
18 determination that even if the lead of a given  
19 endeavor structurally or org-wise was not a  
20 pediatrician, that at the end of the day if there is a  
21 divergence between which way to go in the trial or the  
22 endpoints or whatever, that the pediatric expertise



1 would rule, would take precedence over someone having  
2 a certain title. Does that make sense or is it still  
3 too vague?

4 JIM SMITH: I believe we have one  
5 more question from Dr. Farchione.

6 TIFFANY FARCHIONE: So if I could  
7 maybe follow up and sort of expand on Lynne's  
8 question. So I can think of an example where this  
9 would really apply, would be for instance autism  
10 spectrum disorder. And I guess I'm wondering in a  
11 case like that where for instance I happen to be a  
12 child psychiatrist, which is helpful, how you would  
13 envision peds taking the lead in a situation like that  
14 where you do have the pediatric expertise within the  
15 review division. And I think we collaborate pretty  
16 well with peds, but how would you envision a situation  
17 like that?

18 JANICE SORETH: Well, I think when  
19 you have pediatric expertise at the top of a unit,  
20 that's kind of a no-brainer, unless there's a  
21 fundamental disagreement amongst or between the  
22 pediatric experts which way to go. But frankly I

1 never saw that at the agency, and I haven't observed  
2 it from the outside. It's the opposite. It's when  
3 the programmatic director of an office or a division  
4 or whatever who doesn't have pediatric expertise  
5 thinks that this is the way to go. And the input from  
6 the pediatricians is otherwise and sometimes it's not  
7 taken. And I think in those scenarios, that's a  
8 decision not made in the best interest of patients; in  
9 this case the pediatric patients and their parents and  
10 guardians. I was at the agency long enough that the  
11 pediatric rules and regulations didn't exist when I  
12 joined the agency. So I saw -- I'm older than god.  
13 So I saw it through its stages of iteration.

14 JIM SMITH: Thanks very much,  
15 Doctor. We're going to take a 15-minute break, which  
16 means we start off again at 11:07.

17 (Break)

18 KEITH FLANAGAN: Okay, we are  
19 going to now proceed with Session 2. As with the  
20 previous presentations, I'll announce the first  
21 speaker, but not subsequent ones, so please approach  
22 the podium when the slide that lists your name and

1 affiliation appears on the screen.

2 After your remarks, please remain at  
3 the podium to allow the panel an opportunity to ask  
4 questions. The first speaker for Session 2 is Elliott  
5 Levy, SVP of Global Development at Amgen. Dr. Levy?

6 ELLIOT LEVY: I want to thank the panel  
7 for giving me the opportunity to talk this morning  
8 about topics that we're passionate about and in  
9 particular, I want to spend a few minutes talking  
10 about innovative trial designs, which are, I believe,  
11 a life and death matter for the pharmaceutical  
12 industry.

13 The state of pharma R&D is not well.  
14 There are areas of (indiscernible) good health in  
15 oncology and in rare diseases, which conceal the fact  
16 that we underinvest and in some cases, dramatically  
17 underinvest in many important areas of human health.

18 I find it particularly shocking that of  
19 the 59 new medicinal entities approved last year by  
20 the FDA, not one was in the area of cardiovascular  
21 disease, which is the most common cause of death  
22 worldwide.

1                   One of the major reasons for  
2                   underinvestment is the perception that based on fact  
3                   that clinical trials, clinical programs in these areas  
4                   take too long, cost too much and carry too much risk.  
5                   Innovative trials, it's a term that encompasses many  
6                   different methods, including platform trials, the use  
7                   of real world evidence as a compliment to or as a  
8                   substitute for clinical trial evidence and other  
9                   methodologies.

10                   But what I thought I would show you is  
11                   just an example from our own hands of the value of one  
12                   particular innovative methodology, that's the adaptive  
13                   design. This slide, it represents the results of a  
14                   study design process, a phase II trial for lupus in  
15                   our organization, where we've modeled out three  
16                   characteristics, comparing a traditional design and an  
17                   innovative design using response adaptive  
18                   randomization, interim success and futility analyses  
19                   and longitudinal modeling.

20                   And the panel on the left shows that  
21                   the probability of reaching an incorrect conclusion,  
22                   the panel in the center, the number of subjects and

1 the panel on the right, the average time to a  
2 decision.

3 And in each panel, we show the outcome  
4 of the traditional design versus the innovative  
5 design, if -- whether -- assuming the drug doesn't  
6 work on the left, or that it does. And you can see  
7 that in each case, whether the drug is efficacious or  
8 not, the probability of reaching an incorrect  
9 conclusion is reduced by the innovative adaptive  
10 design.

11 The average number of subjects is  
12 dramatically reduced and the time to a decision is  
13 shortened. We believe that by incorporating these  
14 methodologies throughout the -- our clinical  
15 portfolio, that we can dramatically change the  
16 prospects for success in medicinal development,  
17 particularly in areas of common diseases, which today  
18 receive insufficient investment.

19 So we think there are three  
20 opportunities for us to partner with FDA to promote  
21 the adoption of innovative methodologies. And the  
22 first is really around timely advice and engagement.

1 We're delighted to be participating in the complex  
2 innovative trials pilot. Sorry.

3 But we think that more contact with the  
4 agency is needed. We recognize the value of having  
5 firm binding commitments that are arrived at through  
6 formal dialogue, but we would ask for the agency to  
7 consider options for making contact with sponsors more  
8 frequent and less formal, more information sharing,  
9 less -- more asking and less telling.

10 I think we can learn much from each  
11 other. We'd also encourage you to seek options to  
12 share learnings with the clinical trial community more  
13 actively, perhaps by publishing case examples on the  
14 website or in Q&A documents.

15 We are used to working with non-binding  
16 feedback. The vast majority of the agency feedback we  
17 receive worldwide is non-binding, and of course, we  
18 work with many others such as payers, who have a  
19 significant impact on our work, who provide only non-  
20 binding guidance. So while we welcome the binding  
21 guidance, we shouldn't let it prevent us from opening  
22 up additional channels of communication.

1 Expertise and know how is a critical  
2 challenge, not just for the agency, but for the  
3 industry sponsors who seek to adopt innovative  
4 methodologies. Equally important is a broad  
5 understanding that's shared not only by the  
6 statistical experts in these methodologies, but by the  
7 clinicians who will need to interpret the results.

8 We would encourage you to enhance your  
9 capacity in bayesian adaptive designs and  
10 modeling and simulation, which is a critical  
11 capability for evaluating innovative trials. We  
12 understand that the agency has retained external  
13 consultative support. We feel that's helpful and  
14 could be extended.

15 I would acknowledge that our industry  
16 sponsors have the same challenge in finding and  
17 developing or promoting talent that can work with  
18 these innovative methodologies, so we were very  
19 sympathetic, but we need to work closely together.

20 We also believe it's important for,  
21 again, for the different divisions which will  
22 interpret the results to share a common basis or

1 understanding for these methodologies, and would  
2 encourage you to work to develop not only your  
3 statistical staff, but your clinical review staff.

4 And then finally, the global nature of  
5 the programs represents a challenge. It's difficult  
6 to move forward with large data collecting exercises,  
7 when different important regulatory jurisdictions have  
8 differences of opinion about the validity of the  
9 science. And it's seeking and building a consensus  
10 with multiple regulatory authorities.

11 It is so time consuming that it offsets  
12 a great deal of the value of using these  
13 methodologies. So we would encourage you to, as you  
14 have, to seek opportunities to build close  
15 relationships with other progressive health  
16 authorities around the world, perhaps building on the  
17 cluster approach, incorporating innovative designs  
18 into one of the existing clusters or forming any -- an  
19 additional cluster, and looking for other  
20 opportunities to engage with the regulators and  
21 stakeholders and public discussions around these  
22 methodologies.



1           And again, just to emphasize, although  
2           I've -- my example was one of adaptive designs, there  
3           are many other types of innovative methodologies that  
4           will acquire the same kind of concerted approach, if  
5           we are to truly take advantage of them.

6           I wanted to also highlight one other  
7           area, where we think that a closer and more open  
8           frequent interaction between the agency and the drug  
9           developers could be a value and that's in determining  
10          when post-market safety studies are required.

11          The -- I have not seen systematic  
12          studies of the effort that's required to comply with  
13          post-marketing safety requests, but I believe from my  
14          own experience that multiple companies, that between  
15          10 and 20 percent of the resources and effort that we  
16          deploy in clinical development are spent fulfilling  
17          post-marketing safety requests and other post-  
18          marketing requirements.

19          We, like many companies, have invested  
20          heavily in the development of resources, sentinel-like  
21          resources that we believe could be of considerable  
22          value in safety evaluation, and in many cases, could

1 allow us to adequately assess a known and potential  
2 safety risk without the standing up of clinical trial.

3 And but, we find in certain cases that  
4 the FDA determines that a trial is, or other study is  
5 necessary, but for reasons that are unclear. And  
6 pregnancy registries we think are a good example of  
7 this issue. Their shortcomings are, I think widely  
8 acknowledged. We believe that there are acceptable  
9 alternatives using real world data resources, but to  
10 date have been unable to have an open dialogue with  
11 the agency around these, despite having put proposals  
12 forward.

13 So we believe that the agency where it  
14 conducts a robust evaluation of where sentinel is  
15 sufficient should provide sponsors with sufficient  
16 information and analysis and rationale to understand  
17 the deficiencies of sentinel or a sentinel-like  
18 approach.

19 And we would encourage the agency to  
20 consider whether another type of database study in  
21 these cases could be sufficient, recognizing that  
22 sponsors have invested considerable time and effort

1 into the development of sentinel- like capabilities  
2 that could perhaps be useful for this purpose. And  
3 that's it. Thank you.

4 KEITH FLANAGAN: Thank you. Dr. Yao?

5 LYNNE YAO: Hi. Thank you for your  
6 comments. I'm interested in maybe getting a little  
7 bit more detail about your comment about other types  
8 of database studies that could replace or be evaluated  
9 before requiring a pregnancy registry study. Could  
10 you maybe provide a little bit more detail about what  
11 type of study that might be? And --

12 ELLIOT LEVY: Well, we did prepare a  
13 worked example for consideration at the time of  
14 approval of one of our products, a database study that  
15 we thought would be more informative than a  
16 traditional pregnancy registry. And so, I think we'd  
17 be happy to share that example with you, if you'd  
18 like.

19 KEITH FLANAGAN: Thanks for  
20 putting this thoughtful presentation together. On  
21 slide five, you flag that advice on design features

22

1 that FDA has done, unacceptable would be helpful.  
2 Which types of design -- could you be more specific or  
3 granular about the types of design features that are  
4 front of mind for you, please?

5 ELLIOT LEVY: Well, I think that one of  
6 the major challenges in bringing forward these  
7 sophisticated response, adaptive designs is the  
8 adjustment for multiplicity, where there are, you  
9 know, today, to my understanding, the traditional  
10 statistical approach is maybe inadequate, and instead,  
11 we have to rely heavily on modeling simulation to help  
12 us understand the operating characteristics of the  
13 study.

14 You know, I expect that over years and  
15 with experience we'll become comfortable working  
16 together using modeling and simulation to replace  
17 traditional statistical approaches to corrections for  
18 multiplicity. But today, I think the field is very  
19 much in its infancy, and it would be enormously  
20 beneficial to begin to share examples of cases where  
21 you feel we've come forward with a good proposal, and  
22 others could adopt similar approaches in, you know, in

1 assessing criteria for success of the studies. Is  
2 that helpful?

3 KEITH FLANAGAN: One more  
4 question from Dr. Roman?

5 DRAGOS ROMAN: One of the slides you  
6 make the suggestion that the FDA considered  
7 contracting third party statisticians to help, well,  
8 this is continuous hiring and training continuously  
9 and everything in the agency. But could you elaborate  
10 a little bit more about that in the context of the  
11 fact that most of these issues are proprietary, you  
12 know, trial related issues and statistical issues, and  
13 you know, this proprietary information has to include  
14 some difficulty in sharing some of the information. I  
15 didn't know if you have any thoughts on that.

16 ELLIOT LEVY: So your concern is that  
17 if you had a product under review in front of you,  
18 you'd be precluded from consulting someone outside of  
19 the agency to help to evaluate the statistical  
20 approach (indiscernible) --

21 DRAGOS ROMAN: Well, I mean, I think  
22 that that'll be proprietary information. I mean, I'm

1 not working for a company, but I would assume that  
2 sharing that information, which is proprietary to  
3 myself would be problematic.

4 ELLIOT LEVY: Yeah. Well, I don't --  
5 you know, I don't know the procedures that the agency  
6 requires for engaging expert consultants in cases like  
7 this. From the sponsor's perspective, we would be  
8 quite open to disclosing proprietary information if it  
9 were necessary to support that appropriate assessment  
10 of a scientifically novel package.

11 DRAGOS ROMAN: Thank you.

12 JIM SMITH: Thank you very much, Dr.  
13 Levy.

14 KATRIN RUPALLA: Good morning. I want  
15 to thank FDA very much for the opportunity to present  
16 today. I am Katrin Rupalla. I am a Senior Vice  
17 President of Lundbeck and heading the Regulatory  
18 Affairs, Medical Documentation and R&D, QA  
19 organization.

20 I'm focusing my presentation today on  
21 the CNS area, but I would like to highlight that  
22 actually the area stands exemplary for diseases where

1 we don't have a deep understanding of the biology, and  
2 where innovation and methodology is almost as  
3 important as innovation in the lab in order to advance  
4 the field.

5 Why is it important to use CNS as exemplary  
6 therapeutic area here? We have a high unmet medical need,  
7 which will even increase for the rest of the aging population.  
8 And usually also, the field is considered a graveyard  
9 of drug development, which you have seen with recent  
10 (indiscernible) and Alzheimer's programs, for example.

11 We also would like to highlight that  
12 the efforts of the FDA that you have taken, for  
13 example, in the development of the early Alzheimer's  
14 guideline in the recent years, and also for the use of  
15 breakthrough designation in the past couple of years,  
16 even starting in 2014.

17 I'm following the outline of the  
18 questions that were asked for this public workshop.  
19 Where I put the OND provide additional guidance or  
20 prioritized scientific discussions. I have to say, I  
21 am coming out of oncology for the last 20 years, and  
22 now trying in the CNS area.

1           And I was reflecting on why is it so  
2 difficult to apply breakthrough designation and  
3 advance early, pick something, pick the winner early  
4 and move on. And at the end of the day, it all comes  
5 down to outcome measures.

6           You have a very objective or a much  
7 more objective outcome measures in oncology, or in  
8 HIV, where you have lab parameters or  
9 images, where you can clearly measure a size of a  
10 tumor and the shrinkage of a tumor.

11           It's much more difficult in diseases  
12 where you rely on, you know, questionnaires. Can you  
13 do well today? Can you walk today? And it often  
14 depends on the mood or other circumstances, and also,  
15 where you have a high placebo effect.

16           So outcome measures in the development  
17 of new outcomes to use tools that have been developed  
18 30 to 40 years ago, which may even not withhold  
19 scientific scrutiny today is a primary area of, you  
20 know, where the methodology could push forward, drug  
21 innovation in this field.

22           Also establishing frameworks for



1 evidence collection in the real world, because many of  
2 the diseases, you know, you can have years of  
3 progression. In order to establish long-term benefit,  
4 it would be very important to collect long-term data  
5 in the real world evidence.

6 Also, you know, to see how can we  
7 develop add-on products and having specific guidance's  
8 on combination track development, similar to the  
9 oncology guideline, about how to establish, you know,  
10 like the efficacy of each component in a regimen.

11 Again, and it comes very similar to  
12 what the previous presenter said is that we need to  
13 develop regulatory approaches similar to oncology and  
14 use it to the full extent available to products being  
15 developed for dementia and cognitive impairment, such  
16 as the innovation coming out of the oncology division  
17 of the Real Time Oncology Review, which have recently  
18 applied to many of the approvals, trans diagnostic  
19 approaches.

20 I will quickly touch base on this  
21 follows very much the tissue agnostic approval in the  
22 oncology field, optimizing use of breakthrough

1 designation covered with the advancement in innovative  
2 trial design.

3 Innovative trial designs really also is  
4 applicable across therapeutic areas. If you look for  
5 an example in CNS or neurology, actually you do have  
6 symptoms that are common to different diseases, like  
7 cognitive impairment, or psychosis. So you can  
8 actually leverage master protocols like basket designs  
9 or umbrella designs or platform trials  
10 also for these areas of this disease area.

11 Also, you have on neurology, you have a  
12 common hallmark, which is for example, tauopathies.  
13 There are several diseases that link to tauopathies,  
14 and over expression of these tauopathies, and a  
15 specific hallmark of the disease.

16 And coming back also to the previous  
17 speaker, one of the reasons also reflecting on the  
18 differences between oncology and CNS is when you look  
19 at a CNS development program, where you have a high  
20 placebo effect, not so sensitive measures of outcome,  
21 as we just discussed.

22 It takes you usually a Phase I, Phase

1 II randomized placebo controlled study in order to  
2 understand, do you have a track that's really working  
3 or not. And then we talk about five years until we  
4 actually know we have a track that is innovative or is  
5 actually not working.

6 So finding new, you know, like adapting  
7 new methodologies like the previous presenter was  
8 saying about adaptive designs and using Bayesian  
9 designs in early development could actually already,  
10 you know, like facilitate, pick the winner, but also  
11 facilitate the use of innovative regulatory pathways.

12 Again, here it's more like highlighting  
13 why I am focusing on the CNS area. We have very objective  
14 measurements to say there is much less activities in  
15 terms of approval. Approval usually takes longer,  
16 much less breakthrough designations. It's just to  
17 highlight that there are clear differences between the  
18 therapeutic areas.

19 And how can OND promote effective track  
20 development is offering more opportunities to  
21 interact with the sponsors, that's coming back  
22 also to the previous speaker, and maybe not always

1 related to a project, but facilitating the scientific  
2 discussion.

3 When we look at our development  
4 programs, challenges are often not specific to one  
5 project, but go across different projects. So  
6 debating the science, debating the challenges around  
7 the methodology in scientific meetings with the agency  
8 would be very welcome.

9 Then I think, and it's by coincidence  
10 that Friends of Cancer will speak after me, but just  
11 to say in oncology, the meetings that the oncology  
12 division had with the Friends of Cancer Research  
13 Organization to facilitate and provide a platform to  
14 push forward our new methodologies, agree on the  
15 challenges, set priorities of which challenges should  
16 be addressed is a very important activity of the FDA  
17 as well.

18 And then, the development of guidances  
19 in a timely manner, such as the innovated trial  
20 designs. And we are very much looking forward to work  
21 with the new organization of the FDA and relevant key  
22 stakeholders because we believe this is -- this, you

1 know, CNS and development of new drugs in these  
2 challenging areas will require an all-hands on deck  
3 approach. Thank you so much.

4 JIM SMITH: Thank you. Dr. Farchione?

5 TIFFANY FARCHIONE: So you mentioned  
6 taking a trans diagnostic approach. And I can see  
7 where that's very appealing in cancer, where we know a  
8 lot about the pathophysiology of the illness, like  
9 right down to the genetics and everything.

10 But you know, in psychiatry, we don't  
11 have that. So and that makes it hard for me when I'm  
12 looking at something to say, well, you know, is this  
13 symptom, is the pathophysiology of this symptom the  
14 same in one disease versus another?

15 So how would you suggest that -- what  
16 would you suggest that we could do to encourage  
17 companies to really explore the pathophysiology a  
18 little bit more? Because I mean, I think that we  
19 share the same frustration with just relying on, you  
20 know, a rating scale. So --

21 KATRIN RUPALLA: You know what? Coming  
22 back, and that's the challenge versus the oncology

1 area, right, where you have, you know, a historical  
2 controls that are, you know, describing at least what  
3 the response rate is previously.

4 Your tumor agnostic approach was also  
5 used for rare diseases so far, right? So rare  
6 mutations or rare, you know, like is MSI high, for  
7 example, a mutation of burden. So it's also linked  
8 with the biomarkers as well.

9 I agree it's most probably you know,  
10 like it's finding something like tauopathies, maybe an  
11 area where you can start establishing some of the  
12 methodology for other areas to come. I think like you  
13 said, for outcomes you know, like schizophrenia or  
14 psychosis or dementia, cognitive impairment will be  
15 more difficult, but if you have an area like the  
16 tauopathies that is already driven by a certain  
17 hallmark, that may be easier to say across these  
18 tauopathies, you have one symptom, you know, can I  
19 have different subsets that -- for example.

20 You know, like as if -- I think that  
21 would be most probably the easiest approach to start  
22 with something that's more defined in this space.

1 JIM SMITH: Dr. Bastings?

2 ERIC BASTINGS: Yes. You're  
3 calling for integrated and (indiscernible) approaches  
4 in the absence of regular biomarkers. Can you  
5 elaborate on that?

6 KATRIN RUPALLA: So I think again, I  
7 want to extrapolate a little bit from oncology. In  
8 neuropath oncology clinical trials, you have almost in  
9 every trial now, whole genome sequencing  
10 after patients. And getting biomarkers and  
11 understanding the genome.

12 Working now in the CNS area, we don't  
13 do any patient sampling or very little sampling. It's  
14 spinal fluid, SMRI, but genetic testing has not been  
15 fully implemented in the science of neurology in  
16 clinical trials.

17 So you know, like I think at the  
18 beginning, it will require a commitment to collect  
19 this data and you know, understand what we can do.  
20 But also, in the area of big data, I think the more  
21 data you will accumulate in the future, the more it'll  
22 be possible to find potentially some genetic markers

1 also relevant for CNS diseases.

2 So integration both you know, from the  
3 sponsor side, you know, like the willingness of  
4 patients to provide the patient sample, and developing  
5 further guidance on like the previous speaker on  
6 genomic testing and use of the data.

7 JIM SMITH: And one final question from  
8 Dr. Chambers?

9 WILEY CHAMBERS: So following up on  
10 that, you -- do you think people are not doing genetic  
11 markers in some of these other diseases because the  
12 FDA is stopping them from doing it?

13 KATRIN RUPALLA: No. I -- for me, this  
14 is why I call it integrated approach, right, is -- I  
15 think that needs to be commitment from -- you don't  
16 collect usually data if they are not in some form  
17 useful for regulatory decision making or at least to  
18 advance the science, all right?

19 So if -- and I think here, it is  
20 advancing the science and you know, the regulatory  
21 acceptability, if you find a mutation or if you find a  
22 common hallmark, would the FDA be open to a discussion



1 based on this data and the use of this data in the  
2 submission?

3 So that's why I think an integrated  
4 approach is, you know, like I said, it needs a  
5 commitment from all parties, you know, like that we  
6 have to make advancement in the field using -- doing  
7 testing, acknowledging the data, harvesting the data,  
8 and then also accepting the data on regulatory  
9 submissions.

10 JIM SMITH: And we'll get one more  
11 question in from Dr. Birnkrant.

12 DEBRA BIRNKRANT: Thank you for your  
13 presentation. So we've heard this morning, not just  
14 from you, but from others about various types of  
15 clinical trial designs and using master protocols on  
16 platform protocols, but we didn't or haven't heard  
17 much about formation of clinical trials networks. Is  
18 that also part of your approach to be able to address  
19 these types of less common diseases that are difficult  
20 to study?

21 KATRIN RUPALLA: No. I can't answer.  
22 You know, sorry. I can't answer. I don't know what

1 we are currently doing on the clinical network side,  
2 so sorry.

3 JIM SMITH: Thank you very much for  
4 your presentation.

5 MARK STEWART: Thank you for the  
6 opportunity to present or to discuss some potential  
7 opportunities to help prioritize some of the efforts  
8 that are going on in the office of new drugs. But  
9 first, thank you for your continued efforts to  
10 recognize the importance of seeking input from outside  
11 organizations.

12 And I think it's been exemplified in  
13 terms of the outward facing nature FDA's had over the  
14 past several years, and recognizing the fact that  
15 there's always an opportunity to strengthen the  
16 agency.

17 So Friends of Cancer Research, we have  
18 and continue to be continuously involved in this  
19 intersection of science and advocacy and policy. And  
20 based on our interactions with a variety of  
21 stakeholders, including FDA and other PhRMA companies  
22 and actually organizations and patients, I'd like to

1 share a few areas that are based on some learnings  
2 from our activities and working groups and white  
3 papers that we've put together over the past few  
4 years.

5                   So the first thing I'd like to focus on  
6 is facilitating the use of innovative trial designs,  
7 and maybe even more broadly is facilitating the use of  
8 innovative drug development strategies. I'd like to  
9 acknowledge FDA's role in -- and putting out guidances  
10 for adaptive designs and master protocols that really  
11 help illuminate strategies for addressing concerns  
12 around IRB reviews and informed consent forms, and  
13 even addressing issues related to sample size and  
14 patient population, treatment arm and endpoint  
15 selections.

16                   But beyond just the issues of  
17 developing one of these master protocols, I think  
18 where the rubber meets the road is really how to  
19 implement them. And I think there's opportunities  
20 here for improved interactions between FDA and those  
21 that are trying to implement these innovative trial  
22 designs.

1           And I have similar themes of what have  
2           been previously discussed. And I think just you know,  
3           I can add an example here based on our experience with  
4           our lung cancer master protocol lung map, which is a  
5           master protocol that involves multiple sponsors.

6           And as you could suspect, it's critical  
7           to really have this master protocol launch in a  
8           uniformed manner. And that requires having each of  
9           the arms that consists of different sponsors having  
10          timely feedback, typically in a uniformed fashion.

11          And we've seen that alignment on launch  
12          is critical as sponsors manage competing priorities,  
13          and even potentially drug supply issues. And so, it's  
14          not ideal to have a drug waiting as they're waiting  
15          for other sponsors to clear their timeframes.

16          And so, I think more real time  
17          interactions for feedback on items that don't  
18          constitute a regulatory decision could be helpful in  
19          those instances. However, we do recognize the need  
20          for this multi-level review for full agency decisions,  
21          but a point person for informal, non-binding feedback  
22          can certainly help address FDA concerns earlier,

1 ideally even before any formal FDA submission or even  
2 interaction occurs, which our hope is that that could  
3 result in more balance and time and resources, but  
4 ultimately improve the efficiencies for the trial and  
5 ensure timely access for the patient.

6 The next area is streamlining the  
7 development and review of drugs. And we recognize  
8 that the regulatory review process for drugs is a  
9 resource intensive undertaking for both the sponsor  
10 and the FDA that's tasked with assessing the drug's  
11 benefit and risk.

12 Improvements in the efficiency of this  
13 process can have significant impact on the resources  
14 and time required to complete a drug review, and  
15 importantly, bringing these new therapies to patients  
16 more quickly. And I'd just like to highlight the real  
17 time oncology review pilot that was initiated by OCE  
18 and ask whether there'd be opportunities to  
19 incorporate that more broadly within the agency and  
20 helping to improve the efficiency and process.

21 Building off of work we did last year  
22 on a white paper that consists of a multi-stakeholder

1 working group, I think it was recognized that the  
2 benefit of real time oncology review will ultimately  
3 be realized once we're able to move from supplemental  
4 applications into the reviewing new drug applications,  
5 recognizing, though, there are challenges that will  
6 need to be considered both from a CMC perspective that  
7 might need to be built into the real time oncology  
8 review.

9           And so, looking at a potential  
10 expansion of the real time oncology review that  
11 potentially expands from a more simple supplemental  
12 NDA to a more complex supplemental NDA, and eventually  
13 making its way into breakthrough designated new  
14 molecular entities that might involve companion  
15 diagnostics and etc., and really cataloging at each  
16 step, kind of the learnings and the processes to  
17 ensure that there's a more appropriate roll out of  
18 that pilot.

19           The last area I was going to focus on  
20 was just encouraging innovation while managing  
21 uncertainty. And I think FDA's certainly done an  
22 incredible job, particularly with the oncology space

1 with this. But as we've begun seeing successes with  
2 oncology, we're continuing to push the envelope.

3 And it was mentioned earlier that now  
4 we're starting to look at opportunities for disease  
5 interception, and neoadjuvant therapies. And with  
6 that can result in trials that have very extended  
7 lengths of five, 10, 20 years before you might get the  
8 clinical endpoint that you're trying to measure. And  
9 in those instances, surrogate endpoints will be  
10 critical.

11 And so, how can we capitalize on the  
12 best available evidence today to help ensure that  
13 we're able to help patients now rather than having to  
14 wait five, 10 years down the line and paralyze  
15 ourselves as we try and create the perfect biomarker  
16 before we're able to make any progress?

17 And so, while I may not have a specific  
18 answer as how to do that, I think even the meeting  
19 yesterday that was noted earlier with MRA around the  
20 use of pathological complete response, I think these  
21 dialogues will be important to help inform potential  
22 guidance and help inform how these clinical designs

1 could be ultimately designed.

2 In addition to managing uncertainty,  
3 there is uncertainty from the regulatory perspective  
4 in terms of whether the drug's truly beneficial within  
5 the context of a clinical trial that's being reviewed  
6 by the FDA. There is additional uncertainty from a  
7 patient's perspective, as these drugs come onto the  
8 market.

9 And asking themselves, do the patients  
10 that were included in this trial look like them? And  
11 so, I'd encourage looking at opportunities and  
12 certainly applaud FDA's role in releasing draft  
13 guidance around broadening eligibility criteria to  
14 ensure that we have as much information as we can as  
15 early in the drug development process, so once they  
16 are on the market, patients are able to make the most  
17 informed decisions as to which therapy is best for them.

18 In addition, I recognize that there's  
19 other venues for the discussion of real world data, so  
20 I'll focus my discussion just on the use of  
21 contemporaneous or historical clinical trial data. So

22



1 I think there is a wealth of information that's  
2 available.

3 And while single agent -- single armed  
4 trials alone may yield important safety and efficacy  
5 signals and have certainly been relied on for  
6 regulatory decision making in certain clinical and  
7 regulatory contexts, external controls, whether it's  
8 using clinical trial data or even real world data, I  
9 think provides an additional -- it provides  
10 opportunity for additional context and supplementary  
11 evidence to really understand that treatment benefit.

12 And so, guidance on how to  
13 appropriately incorporate these types of data into a  
14 clinical design study I think are important. And when  
15 endpoints from different clinical trials are able to  
16 be compared to one another are also of importance.

17 And so, I just will end by just also  
18 asking, well, one, recognizing the efforts that FDA  
19 has undergone and the multiple pilots that they've  
20 begun to initiate. But to ask that there be some form  
21 of impact monitoring. And identifying metrics that  
22 could help us understand the benefits and successes or

1 lack thereof of some of these pilots that can help  
2 inform the next steps for the community, and really  
3 understand the benefits that these innovative trial  
4 designs and programs have to offer. Thank you.

5 JIM SMITH: Thank you very much. We'll  
6 take a couple of questions. Dr. Joffe?

7 HYLTON JOFFE: Hi there, yes. I've  
8 heard from a few presentations now this idea of non-  
9 binding feedback, you used the word informal feedback?  
10 And I'm still quite fuzzy on what we mean by that.  
11 You know, usually when we give advice to industry, we  
12 vet it through the division director. Are  
13 you talking about -- or a deputy director -- are you  
14 talking about feedback that's given by a primary  
15 reviewer, for example, which may or may not jive with  
16 what the division director would say so?

17 One question is, what are we talking  
18 about by informal? What are we talking about by  
19 non-binding? What type of advice is this, that you  
20 think would be helpful to get in this manner, if you  
21 could provide some clarity? Thanks.

22 MARK STEWART: Sure. Well, I think in

1 terms of just informal interactions, it would be more  
2 probably on the reviewer level. And just to have that  
3 feedback earlier on, to really get an understanding of  
4 where there could be potential concerns prior to the  
5 formal submission could help trial this as they move  
6 forward with the formal design that goes into the  
7 submission.

8           So you know, I think it's certainly --  
9 it's context dependent and I think it will just depend  
10 on the situation. But in terms of, you know, our  
11 interactions with the master protocol for lung map, I  
12 think having that interaction early, so then, once it  
13 is submitted, we can ensure kind of a timely launch,  
14 particularly when we're trying to juggle different  
15 needs of sponsors.

16           And each might be in a different  
17 timeline, but for these master protocols, at least  
18 what we've been hearing is that it's important that  
19 there really be a unified launch for these.

20           JIM SMITH: Thank you. So that we can  
21 get in two more questions. Let's try to have succinct  
22 questions and answers. Dr. Gormley?

1                   NICOLE GORMLEY: Yes. So you mentioned  
2 that the use specifically of a lot of the oncology  
3 pilots that are ongoing, the RTOR, etc. And  
4 oftentimes, you know, we seek feedback on a case by  
5 case basis. So after an RTOR or an application has  
6 gone through the RTOR pilot, you know, seeking  
7 feedback with that individual sponsor, etc.

8                   And I was just -- you mentioned the  
9 importance of capturing, you know, larger, more global  
10 or widespread metrics. And I just wanted to get your  
11 thoughts as to what you thought would be meaningful  
12 metrics that would indicate, you know, success of such  
13 pilots.

14                   MARK STEWART: Sure. I'm sure I'll  
15 miss some, but I think even time. So the time it  
16 takes from a submission of a -- or the IND to the NDA  
17 and even the NDA then to the approval and  
18 understanding whether there's any impact on that.

19                   Even though the number of studies that  
20 might be required within that clinical development  
21 program could be important. I think from a patient  
22 perspective, understanding if, as part of streamlining

1 drug development, does that mean, you know, a  
2 decreased number of patients that might be required to  
3 answer a question? And so, those are just a few that  
4 come to mind.

5 JIM SMITH: And Dr. Beaver?

6 JULIA BEAVER: Sure. Just following up  
7 on Dr. Joffe's question regarding the earlier advice  
8 in facilitating the use of innovative trial designs.  
9 It sounds like you mean more like almost a pre-pre-IND  
10 type interaction or, do you think even just  
11 involvement of FDA on the working group for that type  
12 of project might suffice?

13 MARK STEWART: I think we certainly saw  
14 the benefit of that, and even when the initial  
15 discussions around this concept of a lung cancer  
16 master protocol was underway, FDA was certainly  
17 involved in those discussions. So I think we've seen  
18 the benefit in that, and whether there's a way to  
19 capture that and other aspects for other people, I  
20 think would be helpful.

21 KEITH FLANAGAN: One final  
22 question. We want rapid informal iterative feedback

1 from reviewers, and at the same time, we want to treat  
2 similar situations similarly. Do you have any  
3 comments on how to reconcile that tension?

4 MARK STEWART: I'm so sorry. Can you  
5 repeat that?

6 KEITH FLANAGAN: On the one hand,  
7 you're advocating for more rapid informal feedback in  
8 development and review of medical products, right? On  
9 the other hand, and at the same time, we want a  
10 consistent approach so that different therapeutic  
11 areas treat similar clinical situations similarly.  
12 Can you comment or make any suggestions concerning  
13 that tension?

14 MARK STEWART: Sure. That's a good  
15 question. I'm not sure I have the exact answer for  
16 you because I do recognize as you go down this path of  
17 having these informal interactions, that there is this  
18 opportunity that you might get -- you might lose  
19 uniformity in what's being put out there.

20 And so, I think even to the extent that  
21 you -- that somehow, these discussions could be  
22 catalogued in a way or even communicated more broadly

1 within the divisions could be helpful. And I guess  
2 the types of interactions that I'm thinking about  
3 would be more around kind of the strategy and not  
4 necessarily around kind of the regulatory and  
5 decisions that are being made, so more of kind of an  
6 academic discussion, are types of interactions that  
7 I'm more thinking about.

8 JIM SMITH: Thank you very much for  
9 your presentation.

10 RUSSELL REEVE: All right, well thank  
11 you. It's good to be here. This is a really exciting  
12 time in clinical development, lots of exciting  
13 innovations occurring. And I'm glad to be here. It's  
14 also challenging times. Let's go onto slide two.

15 Okay, so I'd just like to set the top  
16 or set the stage of the common areas that we're seeing  
17 of innovation that are really the hot topics right  
18 now. We're seeing three broad areas that are  
19 classified here. One is the area of innovation and  
20 types of evidence that we have efficacy and safety  
21 that we will accept or would rely on.

22 For example, using external comparators

1 to augment the concurrent control groups or the use of  
2 real world data that are strictly using clinical trial  
3 data. There's also the trial designs that's reflected  
4 in the protocol. We've talked a lot about master  
5 protocols, which are a very hot topic right now,  
6 adaptive designs, modeling simulations, and precision  
7 dosing, which we haven't talked enough about here, but  
8 I'll talk about a little bit later.

9 Then you also have the trial designs  
10 on the operational side, on the virtual  
11 trials, which allow more patients to be involved in  
12 the clinical trials, therefore connected devices  
13 which speed up our collection of data.

14 And all of these work together. We  
15 need to keep that in mind. They all work together to  
16 improve on each other and have actually synergistic  
17 effects. So I would ask that we try to support that.  
18 Okay, next slide.

19 Okay. So some of the obstacles that  
20 we're seeing to incorporating these into more of a  
21 clinical development. One thing we have to keep in  
22 mind, and I like innovation, but not every trial is



1 really suitable for innovative methods, so we have to  
2 accept that fact.

3 So identification of these cases where  
4 innovation is useful is very helpful. Identifications  
5 where they're not useful -- helpful is also very  
6 important. So if you can come up with some case  
7 studies, some examples, some ways of talking about how  
8 to differentiate these, that would be very helpful.

9 There's another issue that we've seen  
10 here is really the lack of expertise in these  
11 innovations. Of course, we don't want to engage in  
12 areas that we don't have expertise in, but it takes  
13 some time to do that.

14 We -- and as a statistician of course,  
15 I view statistical expertise as very important, but it  
16 is also expertise and innovations throughout the whole  
17 trialist community, all right, the medical  
18 (indiscernible), the pharmacokinetics, the  
19 operational sides. We all need to understand the  
20 benefits, the concepts of these innovations, how they  
21 benefit us, really the suitability of any given  
22 application of innovation to a particular trial or a

1 particular problem. So the more we can do to support  
2 that.

3 More case studies would help. More  
4 exposure and conferences and simulation games. And I  
5 like simulation games. I'm a simulation person. But  
6 simulation games can really help us to understand how  
7 a problem may work out. You can try various  
8 interactions, see what happens. You can -- and it  
9 doesn't hurt anything because it's only in  
10 (indiscernible).

11 And you can get a lot of experience  
12 very rapidly on how these innovations interact with  
13 each other and have -- if you get the right answers or  
14 not. And I think this would be true on both the  
15 regulatory side as well as the industry side, and  
16 working together could be very useful. Okay, next  
17 slide.

18 We want to talk a little bit about  
19 master protocols, and the fact that they're using a  
20 lot more Bayesian analysis and adaptive design  
21 concepts within them. One of the issues that we're  
22 seeing with the Bayesian analysis and Dr. Levy pointed

1 out that Bayesian analysis reduced sample size, speed  
2 up time, have a lot of benefit to them.

3 But one of the issues we're seeing is  
4 that it's a completely different language from the  
5 frequentist's viewpoint that we've all come to know and  
6 love. So we need really training throughout the whole  
7 trialist community in what this language entails and  
8 what we can expect from it.

9 Okay. I do want to talk a little bit  
10 about precision dosing because I don't think we've  
11 mentioned that here. This is an innovative method.  
12 Precision dosing really is -- provides a mechanism to  
13 provide a dose for each individual patient, the right  
14 dose for each individual patient or a small subgroup  
15 of patients.

16 All right, this is very powerful -- it  
17 has been well known throughout the pharmaceutical  
18 community, but I don't think it has really been well  
19 known in the statistical and general medical  
20 community. And there were benefits of that.

21 And the past really has been used in  
22 narrow index -- or narrow therapeutic index drugs, but

1 the benefits really can extend much beyond the narrow  
2 therapeutic index drugs to almost every drug that has  
3 a dose response curve to it.

4 And in fact, we have shown that it can  
5 reduce the sample size fairly substantially in some  
6 cases. And if your objective really is to take a  
7 Phase II design and find the right dose according to  
8 Phase III, it will -- has the highest chance of  
9 finding that dose. It is superior to judicial fixed  
10 designs, it is superior even to adaptive designs.

11 Okay. I just want to highlight some of  
12 the innovations we see in -- often in orphan  
13 indication in Gaucher disease. And the reason why I  
14 highlight this is because these are what's going on  
15 there, but they're also applicable to the broader  
16 development community as well and other disease areas.

17 So if you look here, there is a lot of  
18 natural history trials. We have single arm trials,  
19 screening studies, you know, biomarker studies. And  
20 these are all types of studies that we can incorporate  
21 into the general -- into our general development for  
22 other disease areas as well.

1                   Okay, if you look at slide number 6  
2                   here. What have you learned from this? Well, patient  
3                   registries and natural history studies are really very  
4                   important for clinical development. They give us a  
5                   lot of information. They help us understand the  
6                   disease process, the metrics we use, how the metrics  
7                   vary over time in the disease and how those metrics  
8                   vary across sub populations and understanding sub  
9                   populations is very important as well.

10                   And also, the statistical modeling  
11                   should be incorporated into the clinical programs.  
12                   And one last thing to highlight here is that we really  
13                   need -- if we're going to have innovation, we're going  
14                   to have to accept that it's not going to look exactly  
15                   like our traditional approaches. It's going to be  
16                   different.

17                   And so, encouraging the -- that  
18                   experimentation is going to be important to moving our  
19                   art of clinical development forward as a discipline.  
20                   Okay.

21                   Now to drive adoption. We really need  
22                   to show the benefits of these innovative methods. And

1 it helps to have specific applications that we apply  
2 them to and compare them to a traditional approach  
3 with metrics here.

4 So for precision dosing, for example,  
5 we actually -- there is mathematical proof that it  
6 reduces the sample size. But most of these, you are  
7 not going to get that. You are going to have to rely  
8 on simulation methodologies to do that.

9 In the platform trial cases, what we've  
10 actually done is we've built examples of a platform  
11 designs as well as the traditional designs and we  
12 compare them both for costing and for timelines. And  
13 that's been very helpful for our clients to understand  
14 the benefits of that.

15 And finally, my last slide here. If  
16 you can have forums that we can really get together  
17 and discuss on a scientific basis these innovative  
18 designs, bringing together the regulatory academics  
19 industry, all talking about that.

20 And what we've found is that having a  
21 session like hackathons, where people can get together  
22 and talk about designs and the problems together with

1 different viewpoints, that has been very helpful in  
2 the past, and I highly encourage us to work together  
3 to create those in the future as well.

4           And I'm running out of time here, but  
5 having conference sessions, where we can really talk  
6 about these innovative designs and in some sort of  
7 detail. A lot of the conference talks we talk about  
8 are fairly high level. I'd really like to see us  
9 really getting in the details of what's going on, how  
10 the recruitment rate affects randomization ratios or  
11 the timing of the adaptations, for instance.

12           And the more interdisciplinary, the  
13 better, because we need everyone working together, so  
14 I would encourage interdisciplinary work. Thank you.  
15 Thank you for your attention.

16           JIM SMITH: Thank you very much.

17           ANN FARRELL: Just a quick question.  
18 You talked about the forum for discussing innovative  
19 designs. And were you thinking disease focused or  
20 more broadly? Because I think it's a little different  
21 given sort of where the field stands for certain  
22 diseases.

1                   RUSSELL REEVE: Yeah, I mean, it can  
2 work -- depending on the fields. I mean,  
3 (indiscernible) has been doing that in adaptive  
4 designs for a while. And that has been very  
5 effective. I think there is also some broader general  
6 principle forms that's also very helpful.

7                   Because some of the learnings you'd  
8 have say in neurology can carry over to like  
9 rheumatology. And the mathematics is similar, even  
10 though the disease itself is a little bit different,  
11 yeah. You're welcome.

12                  JIM SMITH: Thank you very much for  
13 your presentation. Appreciate it.

14                  PETER SCHIEMANN: Good afternoon. Yes,  
15 it is. My name is Peter Schiemann. I am a managing  
16 partner of Widler & Schiemann, it's a consultancy,  
17 global consultancy based out of Switzerland and  
18 Zurich. And I'd like to thank the ladies and  
19 gentlemen of the FDA very much for giving me the  
20 opportunity today to contribute a little bit to this  
21 discussion.

22                  I myself and my colleague Beat Widler,



1 whom some of you might know, had some brainstorming of  
2 what to present today, because as a consultancy, you  
3 get to know about many problems of your clients, what  
4 works, what does not work.

5 And finally, we came to a conclusion to  
6 submit two topics of which then I was told you choose.  
7 And we could not decide, so I brought you two topics  
8 today, which I also want to send a disclaimer upfront.  
9 So those are ideas.

10 It's supposed to be a brainstorm food  
11 for thought. We're not claiming to have solutions to  
12 the very detail because you will see that both topics,  
13 one we called flipped clinical trials, the other one  
14 of indication, one standard dose protocol, multiple  
15 IMPs require quite extensive discussion and review to  
16 make it actually happen.

17 So without further ado, excuse me. So  
18 the flipped clinical trials. What is this about? So  
19 there are two aspects to it. One, the problem  
20 statement as I've listed it here. When you have  
21 patients, for example, suffering from a serious  
22 disease, but they're, you know, it's not so much

1 progressed. I'll give you an example, multiple  
2 sclerosis, they usually treat it by the GP's at the  
3 very beginning.

4 And today, however, clinical trials in  
5 general take place at specialized clinics, and  
6 therefore, patients needs to be convinced to change  
7 their treating physicians in order to partake in a  
8 clinical trial.

9 And this very often, and I have a close  
10 friend who is a GP and who stopped working in clinical  
11 trials because of that. And supporting them,  
12 actually. For patients, this means disrupting the  
13 relationship with the treating physician.

14 Usually, you have a very close  
15 relationship, as most of you might know, who are sick  
16 in the past. And for the GP, this results also in  
17 losing a patient, usually to a big clinic, and not  
18 having access to the hospital records as well.

19 As a result, the GP may not be very  
20 enthusiastically support participation of his or her  
21 patient in a clinical study. And for the patient,  
22 this could result in additional burden, for example,

1 having to travel to the institution, being exposed to  
2 an unknown environment, etc.

3 So that said, how could a solution look  
4 like? You see it says solution, but again, as I said,  
5 this is an idea, it's not necessarily a whole  
6 solution. What we could do -- and before I get into  
7 this slide, as I said, I come from Switzerland, and  
8 there's currently a pilot, a very interesting pilot  
9 ongoing at the ETH in Zurich at the (indiscernible),  
10 at the university hospital there, which are actually  
11 trying this out, what I am going to talk about in a  
12 second.

13 And my colleague Beat Widler is an  
14 advisor in this pilot. So patients having treated by  
15 the local GP and remain with a physician who knows  
16 them best. So this of course implies again a problem  
17 because most of the GPs are not very familiar with  
18 clinical studies, and we know from research from the  
19 Society for Clinical Research sites that about 40  
20 percent of GPs who have participated in a clinical  
21 study for the first time quit because of the effort  
22 that is involved.

1                   Now how to remedy that? There is a  
2                   need to leverage the proficiency in clinical trial  
3                   conduct of the hospital treating physician. So the idea  
4                   here is to have a mixed investigative team basically.

5                   So GP investigators would not only have  
6                   only basic GCP knowledge and/or GP investigators can  
7                   use systems that are maybe not fully compliant,  
8                   computerized systems validation comes to mind, for  
9                   example, electronic health records they're using.

10                  The second part is we could design  
11                  trials, especially longer term trials in such a way  
12                  that visits requiring specialized equipment or exams,  
13                  baseline, end of treatment visit, etc., are contacted  
14                  at larger institutions that have extensive experience  
15                  in the conduct of GCP trials, where interim visits are  
16                  conducted by the patient's GP.

17                  Of course, this always depends on the  
18                  (indiscernible), I know that. And we also need to  
19                  challenge classic endpoints, and that comes back. And  
20                  I feel a little redundant with something that I am  
21                  saying now, and also in the second topic, with

22

1 colleagues that have spoken earlier, we need to also -  
2 - there's classic endpoints.

3 For example, multiple sclerosis, the  
4 time 25-foot walking test, which is actually currently  
5 done at the University Hospital in Zurich. So we  
6 could use modern technology, for example, with the  
7 help of apps to monitor the movement pattern of MS  
8 patients.

9 I mean, we all use our smart phones,  
10 smart watches, or Fitbits, etc., to measure our  
11 fitness and things like that. Why don't we use modern  
12 technology in clinical trials and to think a little  
13 bit more innovative? And if it's better than the  
14 walking test, why not use that, even if we know it's  
15 not 100 percent perfect?

16 And for example, all follow-up visits  
17 of safety could be done by the GP's office. So the  
18 question to FDA, would you be able -- would you be  
19 open to that approach, and also, of course, changing  
20 established endpoints when it's supportive of modern  
21 technology.

22 Now the next part has already been

1 touched upon also by some speakers here. We were  
2 wondering and we have had lots of experience in  
3 clinical studies supporting clients with protocols.  
4 Only part of our clients were using templates, and  
5 even those templates were not, let's say, the best.

6 So there's a lot of problems at the  
7 moment, and as we know, especially when providing  
8 those protocols to the PI's, then in the studies, they  
9 have to familiarize themselves. And you reviewing  
10 those protocols at FDA probably also know exactly what  
11 I'm talking about. That the consistency in the  
12 conduct in describing a clinical trial in the  
13 protocol, which should be the work instruction  
14 actually for the site is not always very good.

15 Now what could we do? We could use  
16 standardized protocols, for example, across a disease  
17 or a therapeutic area. One would only change for a  
18 trial what needs to be changed. Based on the latest  
19 research, results availability of biomarkers.

20 And as colleagues earlier said also, it  
21 may be genetic research and analysis, innovative  
22 endpoint determination and so on. As a result of such

1 standardization, the whole approval process of the  
2 protocol would be much easier and faster, not only for  
3 FDA, but also for IRB's, for example.

4 And in addition, of course, now looking  
5 at the physicians being involved in the clinical  
6 study, they would not have to re-learn the "bible"  
7 every time they participate in a new protocol, because  
8 when -- once they have become familiar with a  
9 template, they will know what to do.

10 So the big advantages could be for  
11 treating physicians and patients, increased  
12 familiarity of trial procedures with treating  
13 physicians, including GP's, over time optimization of  
14 trial conduct by implementing learnings from previous  
15 trials, while being able to limit changes to the  
16 template.

17 FDA would need to spend less time to  
18 review. Standards could be even endorsed by FDA. I  
19 know this is, you know, a very bold statement, but I  
20 am pretty sure eventually this could be something that  
21 can happen.

22 The advantage for sponsors could be

1 lengthy protocol development. Writing iterations can  
2 be avoided on the basis of a recognized standard.  
3 Discussions with FDA on the design of the protocol  
4 could be accelerated, and the protocol would undergo  
5 much faster if there are review and approval, while  
6 avoiding hobbyhorse comments by any reviewers.

7 I mean, we know that we don't know  
8 everything, and sometimes, we focus on what we know  
9 best. And there are sometimes discussions emerged  
10 that are slowing down the process. Time and money  
11 could be saved, and patients getting access to new  
12 medications much earlier.

13 Now again, the question to the FDA,  
14 would you spearhead such an initiative. For  
15 instance, leading a pilot in a crowded disease area.  
16 I can imagine as I have been working with CTTI in the  
17 past, that that might be a forum to discuss this  
18 matter, maybe, and we would be happy to work with FDA  
19 and relevant stakeholders on this in the future.  
20 Thank you.

21 JIM SMITH: Thank you. Dr. Lemory?

22 STEVEN LEMORY: So you call them



1 flipped trials. We've sort of termed them  
2 decentralized trials. But and I have a support for  
3 them because, you know, really you see there's a  
4 geographic inequity in clinical trial participation  
5 now depending on where you live.

6 What do you think are the biggest sort  
7 of hurdles from the systemic standpoint, and even from  
8 a reviewer division standpoint, it's okay. But you  
9 know, if I think it's okay. And then, I'm mostly  
10 interested in can the protocol be followed? Are  
11 patients protected?

12 And so, I'd want to see a sponsor, you  
13 know, provide assurances of that beforehand. And I  
14 don't see why that couldn't happen. But as far as  
15 more systemic issues about the IRB's or you know,  
16 inspectors within the agency or other bodies, you  
17 know, worldwide, what are the big global issues that  
18 have to be, you know, solved before sort of it gets  
19 done at a more, you know, a more frequent --

20 PETER SCHIEMANN: Yes, I completely  
21 agree with your comment. Of course, we have to start  
22 small somehow, so there needs to be a -- let's say,

1 call it a controlled environment, in which to run  
2 those tests and to see whether it can actually work.

3 But what we've seen so far today, there  
4 is lots of room for improvement. And we had a  
5 discussion earlier this morning here that innovation  
6 in our industry is sometimes very hard to come by,  
7 meaning changes in the procedures and how we do  
8 things.

9 I'm thinking about medical writing. I  
10 am thinking about protocol design in general. Every  
11 study is unique. And there are interests of several  
12 groups. I think if this can be driven more from the  
13 agency point of view saying, look guys, this is about  
14 drugs in this indication and this is this class of  
15 drug, you have reviewed all the protocols on that and  
16 you know what works and what does not work.

17 Each individual sponsor, they don't.  
18 They sit in their own little bubble, right? So I  
19 think here, FDA can play really a key role pointing  
20 out what is actually working and what is good? What  
21 is not so good? And also say maybe -- I'm leaning a  
22 little bit out of the window now, but also telling

1 sponsors how many data points are actually enough in a  
2 clinical study to make it easier.

3 Because that was exactly the discussion  
4 I had with my friend who's a GP who stopped working on  
5 clinical trials, just they wanted me to collect over  
6 100 datapoints. I cannot do that. This is actually  
7 impossible. And they cannot -- they don't use the  
8 data, actually, for the -- for determining whether the  
9 drug is good or not, you know, quote unquote.

10 And that's why I think there needs to  
11 be a concise environment in which this should be  
12 tested. Maybe as I said, you know, in one area and  
13 run a pilot, see if it works, get all the stakeholders  
14 on board. And I think over time, this can lead to a  
15 vast improvement.

16 JIM SMITH: Okay. I know we're eating  
17 into our lunch hour, but we're going to figure that  
18 out. We've got another couple of presentations, but  
19 actually, we do have a couple more questions, so  
20 hopefully we can keep the answers relatively focused.  
21 Dr. Yanoff and then Dr. Bastings?

22 LISA YANOFF: Thank you for your

1 talk. I'm wondering if you though that we should be  
2 aware of any special considerations for pediatrics or  
3 would all the same information that you discussed  
4 apply, do you think this would be particularly useful  
5 or not useful in pediatrics? Or what should be think  
6 about?

7 PETER SCHIEMANN: Pediatric trials, to  
8 be honest, I cannot really comment. I think my talk  
9 at the moment was focused on adult patients. My  
10 personal experience with pediatric studies is that you  
11 have to be very careful of the individual situation of  
12 the drug and the disease. So at the moment, talking  
13 about your colleague's comment to have a kind of a  
14 pilot, I would not look into any pediatric indication  
15 at the moment.

16 JIM SMITH: Thank you. And Dr.  
17 Bastings?

18 ERIC BASTINGS: Yeah, so regarding  
19 the standardized protocols, who would keep the library  
20 of protocols that people can use? How would you see  
21 that?

22 PETER SCHIEMANN: That is a technical

1 question. If we come to a conclusion that the FDA  
2 would actually endorse certain standards so that we  
3 have a -- let's say a template for a clinical trial in  
4 a certain indication for a certain drug type, then  
5 this could be, you know, something that companies  
6 could download from the FDA homepage. It would be  
7 sitting there and the text that is standard could not  
8 be changed.

9           You can add then your items that are  
10 specific to the drug and specific to your company  
11 needs, etc., and your design or how many patients you  
12 want to include and other points. That could be  
13 something that how it could look. But to be honest,  
14 this is up for discussion and I think at the moment,  
15 in my opinion, much too early to think about. I don't  
16 know what your procedures are at FDA to provide such  
17 templates to the public. I am pretty sure they are  
18 standard procedures.

19           JIM SMITH: Thank you very much.

20           PETER SCHIEMANN: You're very welcome.

21 Thank you.

22           JITENDRA GANJU: Okay. My name is

1 Jitendra Ganju and I'll be speaking about  
2 strengthening the interpretation of data from clinical  
3 trials, all right? So let's start with the  
4 conventional way with which things get done. So in  
5 trial protocols, we pre-specified the primary  
6 endpoint.

7 We pre-specified a list of secondary  
8 endpoints and so on, and attached to each endpoint is  
9 a single method of analysis. And this is the key  
10 part. There is a single method of analysis through  
11 which we interpret the results formally.

12 And the issue with that is, you know,  
13 it's our best judgment. We don't know whether what we  
14 are pre-specifying is going to be optimal for that  
15 endpoint for that upcoming trial. So here is an  
16 example of how things can go wrong, if you choose an  
17 incorrect model.

18 So two models were applied to the same  
19 dataset. They give different answers and it would  
20 lead to different conclusions. I'll come back to this  
21 example later. I'll make a couple of side notes.

22 One is that I've taken very simple

1 examples to get the point across quickly, and the  
2 second is that the examples I've taken are in the  
3 public domain, so that others can replicate these  
4 findings.

5 So when is use of a single method risky  
6 for any given endpoint? It's risky when we out of  
7 necessity cannot do a large trial. It's risky when we  
8 are conducting a complex clinical trial and there are  
9 just too many uncertainties to feel confident with the  
10 pre-specified method of analysis.

11 What this comes down to is that the  
12 risk in the method that is pre-specified is tied to  
13 our experience with the endpoint. So I have over here  
14 on a continuum, endpoints with which we have varying  
15 degrees of experience. On the left-hand side in black  
16 are endpoints with which we have more experience, like  
17 hemoglobin A1c.

18 On the right, there are endpoints with  
19 which we have less experience, like number of days  
20 hospitalized. In the middle in red, the endpoint time  
21 to event, it depends on the context. In some cases,  
22 we have experience with it, and in some cases, we

1 don't.

2 Here is an example of a time to event  
3 endpoint. This is typically analyzed by the Cox  
4 model, which makes the assumption that these hazard  
5 rates have to be proportional. This is -- this  
6 assumption is clearly violated in this example. And  
7 the Cox model would not be the best way to analyze  
8 such data.

9 So what can be done? The proposal is  
10 that we take an approach that is similar to the way we  
11 invest our savings. We diversify our investments. We  
12 don't invest our savings in the shares of one company.  
13 We prefer to diversify.

14 So the proposal is to take a similar  
15 approach. Rather than pre-specifying one method of  
16 analysis, which we are not certain about, pre-specify  
17 more than one method. Combine the P-values from these  
18 pre-specified methods, but do it in a way that  
19 controls the alpha.

20 And the method that I'm going to speak  
21 about today is something called Fisher's combination  
22 method. And in the next few slides, I'll show that



1 the combination approach is very robust. In some  
2 cases, it gives more power, and it's very flexible.

3 So going back to the example we looked  
4 at earlier. So the model that had log transformed the  
5 covariate give a large P-value. The model that did  
6 not transform the covariate give a very small P-value.  
7 Now if we were not sure of which approach to use, you  
8 can prespecify both.

9 And take the combined P-value, which in  
10 this case is controlled for Alpha, and it gives an  
11 answer that's very close to the better performing  
12 model. And this is what robustness means. It's  
13 insensitive to the choice of a sub-optimal model.

14 Let's take another example, about the  
15 endpoint. Should the endpoint have been log  
16 transformed? You're not sure if it should be or it  
17 shouldn't be. Do it both ways. And again, it shows  
18 this is again an example in the public domain, it  
19 shows that the combined P-value gives an answer that's  
20 a lot closer to the better performing model.

21 Let's look at it a different way. If  
22 you're not sure about the metric, should it be percent

1 change from baseline or should I look at data on its  
2 original raw scale? If you're not sure you can do it  
3 both ways, if you did it for this simulation setup, if  
4 you did it using percent change, power is abysmally  
5 low, around 30 percent.

6           If you did the analysis on the raw  
7 scores, power is quite high, it's about 86 percent.  
8 But if you're not sure, you can propose both methods,  
9 assuming both ways of looking at the data are  
10 reasonable, and the combined method gives power that  
11 is much higher than the method that performs poorly,  
12 and it's slightly lower than their better performing  
13 method.

14           Here is a setup, where the combination  
15 method actually can give you more power. And this  
16 happens when the trial size is small, and there are  
17 many covariates to choose from. So in this case,  
18 there were 16 covariants to choose from, and the trial  
19 size is 20.

20           So there are many different single  
21 models one could have selected. The worst you could  
22 do in terms of power is about 20 percent, right? So

1 you're pre-specifying, you don't know if it's going to  
2 work well or not. The best you can do with the single  
3 model would be -- would give you power of around 50  
4 percent.

5 With the combined approach, and here's  
6 the important part, even though for this setup, the  
7 combined approach includes the model that performed  
8 the worst, it gives you more power than the best  
9 performing single method.

10 The versatility of the combination  
11 approach comes through with group sequential trials.  
12 The conventional approach of doing things is to pre-  
13 specify a single method of analysis at each interim  
14 time point and at the final time point.

15 So it's the same method used for every  
16 analysis time point. Combined methods are a lot more  
17 flexible. And in this case, it's not just the trial  
18 results that have to be interpreted. You can actually  
19 stop the trial earlier, if supported by a formal  
20 method of analysis.

21 So to take an example, let's just look  
22 at a simple case where there is one interim analysis

1 and one final. Let's just say the conventional  
2 approach looks at the log rank statistics. So you  
3 would use that statistic for the interim and for the  
4 final.

5 But with a combination approach, you  
6 don't have to be so tied in. You can use, for  
7 example, a weighted log rank at the interim and a log  
8 rank at the final. You can even take it a step up.  
9 For the interim, you can pre-specify more than one  
10 method of analysis, and for the final, you can pre-  
11 specify a different set of analyses, and then do it in  
12 a way that controls the alpha.

13 And as before, the combination method  
14 was robust for group sequential studies. So to wrap  
15 it up, the limitation, it's -- it is a robust  
16 procedure. The limitation is that it doesn't produce  
17 an estimate of the treatment effect.

18 And I would suggest that to build  
19 experience with this, one can apply this on trials  
20 that have already been completed and look at how the  
21 combined approach compares with the method that was  
22 pre-specified.

1                   For upcoming trials, one can add this  
2                   to trial protocols, and again, do a side-by-side  
3                   comparison of how the combined approach works with the  
4                   pre-specified, the single pre-specified method of  
5                   analysis.

6                   There are many ways to combine P-  
7                   values. There's, you know, you can take the minimum  
8                   P-value and control the alpha for that. What I've  
9                   used here is something called Fisher's combination  
10                  test.

11                  And alpha control is achieved through  
12                  something called the permutation methodology. And  
13                  everything that I've spoken about, for the most part,  
14                  is contained in these three references. Thank you for  
15                  listening.

16                  JIM SMITH: Thank you.

17                  MEG JARDINE: Well, thank you for  
18                  accepting our application to speak with you today.  
19                  I'm Meg Jardine, a physician and a researcher of the  
20                  George Institute and I'm the member of the  
21                  International Society of Nephrology clinical trials  
22                  group and co-chair of the trial design work group

1 there.

2 And our (indiscernible) there is to  
3 increase the high quality research generation for new  
4 trials, for new treatments in my disclosures. In  
5 nephrology, we win the wooden spoon for the worst  
6 generation of evidence over time. But that is  
7 something we're trying to change.

8 The International Society of Nephrology  
9 two years ago held a workshop, and one of the outputs  
10 of that was a goal, an ambitious goal that we would  
11 have 30 percent of people with CKD, chronic kidney  
12 disease in a trial.

13 So to do that, we obviously need to do  
14 something different or we will continue to hold the  
15 wooden spoon. And the answer for many of the  
16 challenges in nephrology are the master protocol  
17 trials. So what I'd like to do is outline some of the  
18 key features of those trials and how they would answer  
19 some of our problems in nephrology.

20 Firstly, the ongoing nature of these  
21 trials, which has been demonstrated successfully in  
22 other indications would allow our relatively low

1 frequency of diseases to be represented in stable  
2 infrastructures that permitted ongoing development of  
3 schools in our trial staff both at sites and  
4 centrally.

5 One key feature of master protocol  
6 trials is the use of a common endpoint, whether for  
7 basket or on (indiscernible) trials. And in fact, in  
8 nephrology, we have multiple conditions, generally  
9 poorly defined and discriminated from each other,  
10 often defined on the basis of the appearance on a  
11 pathology slide.

12 But for all those diseases, and most  
13 common endpoints are the same. We look at variations  
14 of measurements of the albumin or protein in the urine  
15 or it changes in the eGFR. So in fact, we are moving  
16 to a common endpoint and a (indiscernible).

17 In fact, for focal sclerosing in  
18 glomerulosclerosis, a rare disease that has been the  
19 subject of much interest recently, there is a  
20 remarkable similarity in the endpoints that are used,  
21 which are around reduction in albuminuria, in this  
22 case, in a threshold based analysis. So in fact, we

1 do have a de facto consensus, or at least we're very  
2 close to a de facto consensus on a common endpoint.

3 We could use the -- yeah, I think these  
4 are the old slides. And nonetheless, we'll push on in  
5 a more common endpoints, diabetic kidney disease. We  
6 are working with the FDA to try and get a consensus on  
7 endpoints, reduction in albuminuria, and change in  
8 eGFR over time, that would be accepted by regulators  
9 and would allow the generation of evidence broadly,  
10 but particularly for master protocols.

11 Master protocols allowed the shared  
12 infrastructure, which leads to evidence efficiency,  
13 generation efficiency. The master protocol trials  
14 allow the use of multiple agents, and this has been  
15 demonstrated in previous, in other areas, such as most  
16 notably in oncology.

17 We're now fortunate in nephrology and  
18 after quite a few years in the desert, we do have a  
19 number of new agents being developed. But unless we  
20 get more efficient ways of generating evidence, we  
21 won't allow these to be sufficiently tested.

22 The advantages of course of a master



1 protocol is the use of a common control arm, which  
2 means we can reduce our sample size. Now for context,  
3 in the primary (indiscernible), which is a common  
4 cause of end stage kidney disease, they remain rare  
5 disease at the population level.

6 For adults, about 0.2 cases per 100,000  
7 people per year. And for children, it's about half  
8 that. So we need efficient ways of generating the  
9 evidence and of not squandering our patients  
10 replicated control arms.

11 Finding patients for these trials is  
12 challenging. In focus (indiscernible) sclerosis  
13 again, over the last two decades, the combined  
14 registered trials required a little over 2,000  
15 patients, but two thirds of those are in trials that  
16 are actively ongoing.

17 So we need to do more now than we have  
18 been able to do in the past, and again, new models and  
19 more efficient ways will be -- trial designs will be  
20 the way to do that. Even in our more common diseases,  
21 diabetic kidney disease, which is the most common  
22 reason that patients progress to requiring dialysis,

1 we still have relatively low incidents.

2           The recent successful (indiscernible)  
3 trials recruited six to eight patients per site. Now  
4 compare that with some recent cardiovascular trials,  
5 which recruited at least double that. So for sites,  
6 you can see the burden, and the advantages of the  
7 common and the stable infrastructure would definitely  
8 improve the situation.

9           Master protocol trials give us the  
10 advantage of allowing adaptive randomization, which  
11 allows the more efficient generation of evidence and  
12 the quicker pathways to successful treatments for our  
13 patients. And they also allow Bayesian statistical  
14 approaches to sharing knowledge across disease states,  
15 rare diseases that have similarity can be used to have  
16 some sharings, which would allow us to generate  
17 evidence in conditions which are impossible to  
18 generate evidence in at the moment.

19           A number of our (indiscernible)  
20 diseases are very similar pathologically, hopefully in  
21 the future we'll have biomarkers, but again, a low  
22 incidence. And sharing the knowledge will enable us

1 to at least get some evidence.

2 So master protocols in nephrology would  
3 allow patients greater access to trials. They would  
4 certainly increase the efficiency of evidence  
5 generation, they would allow us to incorporate  
6 external learnings, both from within the trials and  
7 from other trials.

8 The net effect would be that instead of  
9 having competitive trial endeavors, we would move to a  
10 collaboration state, which would allow us to generate  
11 our evidence more efficiently.

12 Now I think there are three ways that  
13 the FDA can support this. Firstly, by giving the  
14 support to master protocol trials in nephrology that  
15 has been given to oncology would be really engender  
16 confidence in our community that this is an acceptable  
17 way to go.

18 Secondly, I think a focus on the need  
19 for global collaboration. You know, I outlined  
20 (indiscernible) sclerosis what the challenges for  
21 recruitment are. I think there's acceptance that even  
22 in the US, the largest community, there still are not

1 enough patients to efficiently test these agents.

2 And so, we need to embrace the global  
3 efforts to answer these questions. And lastly, the  
4 FDA's support in defining acceptable common endpoints.  
5 Now that would help us across nephrology generally,  
6 but would particularly help with master protocol  
7 trials, if we had an accepted, validated endpoint that  
8 was acceptable to regulators that would then again  
9 engender confidence in the master protocol approach.  
10 So I thank you.

11 JIM SMITH: Thank you. So the idea of  
12 a more collaborative research environment to optimize  
13 the amount of information that each participant  
14 provides is certainly a laudable one. Other than the  
15 endpoint issue, which you just mentioned, what other  
16 ways do you believe that the Office of New Drugs could  
17 help facilitate that?

18 MEG JARDINE: I think specific  
19 endorsement of the master protocol for nephrology  
20 would help. It's not a new design anymore in  
21 oncology, and when you speak to sponsors who have  
22 worked in the oncology space, they're very

1 comfortable. But in nephrology it is still new. And  
2 somehow, sort of smoothing over that barrier, so to  
3 allow us to look over the fence and see that this is a  
4 way forward.

5 JIM SMITH: Okay.

6 KEITH FLANAGAN: Thank you very  
7 much. So we're running a little over, a little  
8 behind. So with -- it's 12:42 now, and with the  
9 panel's permission, I propose that we reconvene  
10 promptly at 1:30. There being no objection, 1:30.

11 (Break)

12 KEITH FLANAGAN: Okay, we're  
13 going to now proceed with Session 3. As with the  
14 previous presentations, I'll announce the first  
15 speaker but not subsequent ones, so please approach  
16 the podium when the slide that lists your name and  
17 affiliation appears on the screen. After your  
18 remarks, please remain at the podium to allow the  
19 panel an opportunity to ask questions.

20 The first speaker is Dr. Chou,  
21 president of PD Sciences, LLC.

22 TING-CHAO CHOU: Thank you. Good

1 afternoon. I'm Ting-Chao Chou. My topic today this  
2 afternoon will be very different. It's mass action  
3 law based pharmacodynamic for quantitative and  
4 efficient drug evaluation guidance, subtitle  
5 computerized data analysis of single drug and drug  
6 combination in vitro, in animal, and in clinical  
7 trial.

8 I have eight minutes for my lifetime  
9 theory of work, so I have to show only the  
10 highlights. Those who want more detail, please  
11 contact or visit my website or my review article. The  
12 pharmacological review article 2006 summarized the  
13 theory, equations, algorithms, and application. Up to  
14 this week, they totaled 2,300 citations in 941 journal  
15 internationally. The PD theory has three major  
16 equations.

17 First, the median-effect equation which  
18 is the unified general theory of mass action law. It  
19 described that those affect mathematical relationship.  
20 The second one, combination index equation for drug  
21 combination. It define CI equal one its additive  
22 effects, more than one synergism, greater than one is

1 antagonism. The third one, dose-reduction index  
2 equation calculate how much dose reduction because of  
3 synergy.

4           Within the equation is unified  
5 theory of mass action law. It's described, the  
6 fraction affected, the ratio of fraction not affected  
7 equal dose versus median-effect dose to the M's power.  
8 (indiscernible) is potency. M is for indiscernible)  
9 order which is shape of dose effect curve. So this  
10 equation can be -- the (indiscernible) and enzyme  
11 kinetics can be the -- one forward. I don't know why.

12           Okay, Henderson-Hasselbalch equational  
13 pH ionization is also the Hill equation  
14 like an occupancy and the Scatchard equation of  
15 receptive binding. The dose effect curve can be  
16 linearized by the median effect plot. Sorry. Eight.  
17 Okay. Okay, forward. Okay.

18           The median effect plot which linearized  
19 dose effect curve. The shape is the slope. The X in  
20 the set is the potency like ED50, PD50, LD50. So it's  
21 universally validated. Okay, The computer

22

1 simulation of median effect equation different shape  
2 of dose effect curve, become different straight line  
3 with different slope. Different potency of the drug,  
4 it become different X intersect.

5 So this is very important new finding  
6 although it may be many years old. Any two data point  
7 on the straight line signify entire dose effect curve.  
8 So the minimum -- only two data point required to  
9 simulate entire dose effect curve, if accurate.  
10 Okay, so it's very powerful tool. This especially  
11 important in vivo, like in animal or clinical trial.  
12 You cannot have too many doses. So minimum, only  
13 two data point required.

14 Okay, now talk about PK and PD. PD  
15 model is mass action law. It describes dose effect  
16 mathematical relationship. PK is only the  
17 intermediate state of PD. PK has no model. And so  
18 it's so important, make it very clear, this compare PD  
19 and PK. PD for what drug does to the body and it's  
20 (indiscernible) derive equation, it determine efficacy  
21 and toxicity.

22 By contrast, PK is what body does to  
23 the drug and is an imperial formula, neither determine



1 efficacy nor toxicity. It's -- but PK help proper use  
2 of a drug. Computer simulation of drug combination  
3 synergy using median effect plot and the  
4 combination index plot -- equation, I'm sorry, not  
5 plot -- equation -- one forward. Okay, so we can  
6 calculate -- forward. Further. Further forward.  
7 Forward. Forward.

8 Calculate CI. CI equal one  
9 Additive effect, smaller than one synergism,  
10 greater than one antagonism. This have been cited  
11 6,000 times in literature and it also calculate dose  
12 reduction, (indiscernible) dose reduction because of  
13 synergy and also it can -- example, in two drug  
14 combination to any drug combination. So  
15 universally applicable.

16 Okay, just my proposed recommendation  
17 of two drug combination design. Drug one dose  
18 by relationship, drug two dose by relationship  
19 , and the combination diagonal  
20 at constant ratio. For example, ED50 ratio. Only 16  
21 data point, you determine synergy. You can take two  
22 weeks to do it. The analysis took two second, one

1 or two second to complete the.

2 Okay, computing software is for  
3 pharmacodynamic, pharmacobiodynamic, and  
4 bioinformatics. It's offered for free download as a  
5 donation to biomedical community. During the  
6 past seven years, 35,000 download by biomedical scientists  
7 from 129 countries.

8 This is the example of two drug  
9 combination in vivo. Anticancer xenograft tumor in  
10 nude mice. On the 10 data point, 66 nude mice and you  
11 look at this, at drug (indiscernible). Drug one three  
12 doses. Drug two three dose, combination three or four  
13 doses. Only this 10 data point generate those  
14 (indiscernible) curve, generate (indiscernible) plot,  
15 determine synergy. As a (indiscernible), determine  
16 synergy and tell you how many fold dose reduction due  
17 to synergy.

18 This entire (indiscernible) only take  
19 only one or two second. Next. This is the comparison  
20 of drug combinations in vitro, in animal, in clinical  
21 trial. In terms of time, cost, sample size, and  
22 minimum number of data point, you see in animal  
23 clinical trial only 10 data points. Quantitatively

1 determine synergy. Next slide.

2 This is a clinical trial, FDA approved,  
3 AZT plus 3TC uses 366 patient, but their designs are  
4 wrong. It's AZT use single dose. Impossible  
5 to determine synergy and also they use statistic P  
6 value. Nowhere you can determine synergy with  
7 statistic. It should be determined by combination  
8 index. Should use based on mass action law, look at  
9 another clinical trial.

10 AZT trial, interferon alpha, use only  
11 36 patient. Used Chou-Talalay Combination Index Method  
12 each drug, three doses totally 10 data point.  
13 Analyzed synergy quantitatively. This clinical trial  
14 very expensive, take four, five years.  
15 Look at it day and night different. Next slide.

16 This comparison of drug combination in  
17 the past century, 120 years almost. Ten different  
18 method of synergy determination. Here, I compare with  
19 a trend of total citation since(indiscernible) publication  
20 annual citation per year, CI method predominate  
21 because it's the only method of quantitative all the  
22 hundred years all the other methods are(indiscernible)  
23 non-quantitative and also computer automation.

1                   Next slide. This tell how much  
2                   CI Method can tell us in drug combination. As  
3                   in any synergism, how much synergism. Synergies at  
4                   what dose level? Synergism at what effect level?  
5                   What the isobologram look like? And How many folds dose  
6                   reduction because of synergy? Dose reduction reduce  
7                   toxicity, of course. It can also answer the question  
8                   for optimal combination ratio, one to one, one to three,  
9                   three to one -- which is better.

10                   Sequential of combination, A follow B,  
11                   B follow A or some other which is better. All these  
12                   can be determined. Next. Thank you.

13                   JIM SMITH: Thank you Dr. Chou.

14                   TING-CHAO CHOU: I have many (indiscernible),  
15                   67 supporting slides to FDA. I hope you have time to go  
16                   through it. This is all the (indiscernible). I think a  
17                   lot of question people can ask because this very big issue,  
18                   very important issue.

19                   JIM SMITH: Thank you. Dr. Yao.

20                   LYNNE YAO: So I'm not going to  
21  
22

1 claim to understand even part of the math behind what  
2 you've presented. I do have a basic questions,  
3 though, and it does seem that your models rely on  
4 basically physical chemistry or physical properties of  
5 the --

6 TING-CHAO CHOU: Correct.

7 LYNNE YAO: -- drug and I do wonder  
8 if you could comment on how, for example, we've used  
9 physiologically based PK modeling --

10 TING-CHAO CHOU: Okay.

11 LYNNE YAO: -- and how your models  
12 would be able to incorporate that or not.

13 TING-CHAO CHOU: Okay. As we know,  
14 our local system is very complex and very  
15 diversified. It is impossible to do one  
16 (indiscernible), one by one. So my approach was  
17 unified theory. Mass action law is basic fundamental  
18 for biophysics and biochemistry, the whole biology.  
19 So I use this general theory as a large  
20 common denominator so simplify very complex  
21 biological system to very simple way.

22 But this only talk about general

1 principle, not for specific. So this theory supposed  
2 to apply to (indiscernible) for any disease, any  
3 organ, only tissue, any cell, any gene, any drug.  
4 It's universal theory. Mass action law is entire  
5 biology basic fundamental principle. So --

6 JIM SMITH: Thank you.

7 TING-CHAO CHOU: -- I'm not asking  
8 for -- I know, it took me 40 years, so median effect  
9 equation alone took me 10 years derived 300  
10 equations before I (indiscernible) simple median  
11 effect equation for (indiscernible) now. And  
12 combination index equation took me seven  
13 years.

14 This is all on record and it was  
15 ignore, nobody care - what are you talking about  
16 but now I have 36,000 citation.

17 JIM SMITH: Thank you.

18 TING-CHAO CHOU: And 1,269 journals.

19 JIM SMITH: Thank you very much, Dr.  
20 Chou.

21 TING-CHAO CHOU: Yeah.

22

1                   JIM SMITH: We appreciation you coming  
2 today.

3                   TING-CHAO CHOU: Yes. Okay.  
4 It encompasses almost entire biomedical science  
5 (indiscernible). So this -- so (indiscernible)  
6 actually.

7                   KEITH FLANAGAN: Dr. Fisher.

8                   CHARLES FISHER: Good afternoon.  
9 So today, I'm going to talk a little bit about the  
10 promise of artificial intelligence and opportunities  
11 to use AI to improve drug developments. And in  
12 particular, I think that this is a really opportune  
13 moment for FDA to build on some prior work in this  
14 area to ensure that these technologies ultimately  
15 provide the benefits to patients that we expect of  
16 them.

17                   So I'm Charles Fisher. I'm the founder  
18 and CEO of a San Francisco based technology company  
19 called Unlearn AI. After a few years working in  
20 pharma R&D back in Cambridge, Massachusetts, I moved  
21 to San Francisco and I met two machine learning  
22 scientists, Jon and Aaron shown here and we started

1 this technology company to build new machine learning  
2 approaches to improve medicine.

3 And especially to build machine  
4 learning approaches that would improve the efficiency  
5 of the drug development process. And when we do this,  
6 we build on three kind of foundational principles that  
7 I think apply to all areas of machine learning an AI  
8 and really, ultimately, to all uses of data.

9 So the first one, at least our company  
10 starts with integrated, curated historical clinical  
11 trial data, but the main point there is that we want  
12 to start with clean, reliable data so that we can make  
13 good decision. Then, we need to build the right tools  
14 in order to use those data.

15 And then finally, we need rigorous  
16 evaluation that the tools are appropriate for the task  
17 ahead. And as I said, these things apply broadly to  
18 uses of AI in other areas. And so the last decade has  
19 really brought amazing progress in AI. It's now, your  
20 smartphone can translate between 27 languages in real  
21 time. Your autonomous cars can recognize all of the  
22 different objects in front of them on the road.



1           Neural networks can draw photo-  
2 realistic pictures of imagined faces like this one.  
3 This is not a real person's face. They can write  
4 scientific abstracts that look like they were pulled  
5 straight from PubMed. All of this made possible with  
6 approaches that were developed in the last year known  
7 as the deep learning. And this is not merely  
8 academic. These are not things just for your  
9 smartphone.

10           These are things that are making real  
11 impact in medicine. CDRH has now approved a number of  
12 medical devices that use AI and they have released the  
13 framework for thinking about how AI and software can  
14 be used as medical devices. There are a number of  
15 ways that AI is being used to improve drug development  
16 process.

17           You could imagine companies that are  
18 working on predicting serious adverse events before  
19 patients may enroll in a trial. Or, we've heard a lot  
20 today about incorporating historical or real-world  
21 data to -- into clinical trials as external control  
22 arms. And so I'll tell you a little bit about what we

1 do at Unlearn.

2           So Unlearn creates digital twins using  
3 machine learning. A digital twin is a computational  
4 simulation of a subject that is matched to that  
5 subject when they enter into a clinical trial and  
6 describes what would happen to that particular person  
7 if they were to receive a placebo. And so we can  
8 incorporate those data into the control arms of trial.

9           So this work that I'm showing you is  
10 part of a collaboration that we did with the critical  
11 path for Alzheimer's disease consortium where we have  
12 taken data collected from a number of historical  
13 Alzheimer's disease clinical trials and trained a  
14 machine-learning model that's able to generate these  
15 digital twins. And it's described in a paper which is  
16 open access nature scientific reports for anybody  
17 that's interested in finding it.

18           So starting with this database, we  
19 trained this machine-learning model and using this  
20 model we can create a digital twin for each subject in  
21 a trial, both in the treatment arm and in the control  
22 arm so that you maintain blinding, you maintain

1 randomization. But each twin acts as an individually  
2 matched control for each subject in the trial.

3 On the right, I'm actually showing an  
4 example of one of the simulated subject records,  
5 digital records that we can create for a subject with  
6 Alzheimer's disease which covers all of the individual  
7 components of the composite scores, covers lab tests  
8 that you would look at for safety, basic demographic  
9 characteristics, all of those data longitudinally  
10 simulated.

11 And so application of this technology  
12 could enable one to run trials where fewer subjects  
13 receive placebo. It enables you, because you have a  
14 matched control for each subject, to get  
15 individualized information about responses to therapy.  
16 However, the promise of AI approaches, our approach  
17 and the approaches that are being developed by a  
18 number of other companies really cannot be realized in  
19 a vacuum.

20 So there are a number of questions that  
21 people have. Which use cases are appropriate? How do  
22 we evaluate the quality of AI-based predictions or

1 simulations? How do we judge the clinical utility of  
2 these tools? So we would recommend that CDER develop  
3 a framework much like CDRH has for describing how  
4 these AI-based tools may be used within drug  
5 development.

6 There's sort of three concrete  
7 recommendations, so one is to clarify how AI-based  
8 applications for drug development could potentially be  
9 qualified within FDA's Drug Development Tool  
10 Qualification Programs.

11 Another is to promote new pathways such  
12 as the complex innovative trial designs program in  
13 which sponsors and other stakeholders may obtain  
14 different types of feedback about specific uses of AI-  
15 based tools.

16 And finally, to develop demonstration  
17 projects, collaborations, that can facilitate where  
18 sponsors and regulators can have discussions about the  
19 use of these tools and understand their advantages and  
20 disadvantages, so things like inform within the FDA  
21 and then public-private partnerships like those  
22 managed through the Critical Path Institute.

1                   So the above concrete actions would  
2                   alleviate a lot of regulatory uncertainty, both  
3                   amongst sponsors and technology companies like ours  
4                   and would open the door to applying these innovative  
5                   approaches to make drug development much more  
6                   efficient and to get patients new treatment that they  
7                   need as quickly as possible.

8                   Thank you.

9                   JIM SMITH: Thank you. Dr. Farchione.

10                  TIFFANY FARCHIONE: So I noticed  
11                  that in your example, you had Alzheimer's. So I'm  
12                  wondering, is this model mainly useful for things  
13                  where you have a fair idea of sort of the natural  
14                  progression of disease or would you be able to do  
15                  something like this for a disease where the course  
16                  waxes and wanes over time? Again, because I'm in  
17                  psychiatry, I'm thinking things like schizophrenia and  
18                  depression and --

19                  CHARLES FISHER: Sure.

20                  TIFFANY FARCHIONE: -- along those  
21                  lines.

22                  CHARLES FISHER: Yeah, so I would

1 say kind of two aspects to this question, so the first  
2 of which is that we tend to work on diseases in which  
3 we have data. So that is part of the large reason why  
4 we started with Alzheimer's. There's this large,  
5 unmet need and companies are willing to share data in  
6 order to address it.

7 The models that we use can be applied  
8 across different disease areas if those sufficient  
9 high-quality data are available. So we have -- we'll  
10 be discussing -- actually we're writing up a paper now  
11 on multiple sclerosis which is a disease that has a  
12 more complex history and the models work just as well  
13 there.

14 JIM SMITH: Dr. Yao.

15 LYNNE YAO: Curious about  
16 pediatrics again. Does your technology allow for  
17 incorporation of information about growth and  
18 development and can this be used in peds? And also,  
19 do you think this is -- this would be useful for both  
20 efficacy and safety or are we focusing right now on  
21 efficacy?

22 CHARLES FISHER: So first question,

1 so we are looking into some pediatric conditions, yes,  
2 especially building off some of the work, some of the  
3 guidance out of the Division of Neurology thinking  
4 about the use of models for extrapolating from adult  
5 populations to pediatric one.

6 And to get to your second questions  
7 which was about safety, so we have taken the approach  
8 of trying to incorporate all of the data that you  
9 would want, so those include the lab values that you  
10 would use for thinking about safety information. The  
11 current thing that we have published does not have  
12 adverse events in it, but we are looking into also  
13 providing information about those as well.

14 So I think that both efficacy and  
15 safety could be improved by using these technologies.

16 JIM SMITH: And if I may ask one more,  
17 it would seem that your digital twin example, you  
18 could test that in a regular placebo-controlled random  
19 -- placebo-controlled trial a priori to predict, if  
20 you will, what patients in the trial would do if they  
21 were assigned a placebo and then you have a group  
22 that's actually assigned a placebo and you could

1 compare.

2 To what extent has that type of work  
3 already been done and what's on the horizon?

4 CHARLES FISHER: Sure. So we can  
5 do that ourselves easily retrospectively. So we have  
6 done a lot of work retrospectively looking at  
7 historical clinical trials, both ones for which we  
8 have access to some data and taking summary statistics  
9 off of CT.gov. We are in talks now to do this  
10 prospectively.

11 We're effectively working with sponsors  
12 as part of their trial, as like a third arm that  
13 enables us to get some of those prospective data.

14 JIM SMITH: Thank you very much.  
15 Appreciate your presentation.

16 CHARLES FISHER: Thank you.

17 ANDREW EMMETT: Good afternoon.  
18 Thank you. My name is Andrew Emmett. I'm an FDA  
19 liaison and head of U.S. regulatory policy for Pfizer.  
20 And just as an aside before I get started, I just  
21 wanted to say thank you for convening this forum. I  
22 go to a lot of FDA meetings and I think on behalf of



1 the FDA stakeholder community, we recognize what a  
2 unique opportunity this is to share our perspectives  
3 and thoughts directly with OND leadership and you all  
4 have just been incredibly generous with your time  
5 given other demands. I just want to say thank you.

6 I'm going to be talking about three  
7 primary topics today, SDLTs, PMR/PMC reform, and  
8 adoption of novel regulatory science and tools and  
9 methods. I think Dr. Prescott this morning did a  
10 fabulous job covering the issue of severely  
11 debilitating life threatening diseases and I couldn't  
12 agree more that we'd really benefit from additional  
13 guidance and stakeholder engagement in this area.

14 I think we've seen considerable  
15 progress by leveraging regulatory innovations in a  
16 number of areas of unmet medical need, but there are  
17 other therapeutic areas -- congestive heart failure,  
18 late-stage diabetic neuropathy, lupus nephritis,  
19 advanced Parkinson's disease, progressive multiple  
20 sclerosis, to name a few -- where there continues to  
21 be unmet medical need.

22 And like oncology, they're really

1 characterized by short term survival rates and rapidly  
2 progressive disease and in our view, there's equal  
3 urgency to spur R&D investment in these areas and we  
4 felt that for the SDLTs an ICH S9-like approach  
5 similar to what we've seen in the hematologic guidance  
6 would be quite welcome.

7                   The benefits, obviously, earlier  
8 patient access to therapies for these SDLT diseases,  
9 avoidance of necessary use of animals, and reduction  
10 in the economic and (indiscernible) costs associated  
11 with late stage and end of live conditions. And this  
12 all can be done, in our view, in a way that protects  
13 patient safety and ensures consistency in regulatory  
14 practice.

15                   So how do we get there? As a first  
16 step, we'd like to see FDA convene a workshop that  
17 define SDLTs across (indiscernible) areas and  
18 ultimately establish a pathway towards consensus  
19 guidance across therapeutic areas. Now, the current  
20 definition -- currently, there lacks a current  
21 definition -- consensus definition for SDLTs across  
22 broad therapeutic areas, but the hematologic

1 definition already exists is really quite flexible and  
2 we believe would be appropriate to use more broadly.

3 But we also need to have objective  
4 criteria for the conditions that would warrant  
5 streamlined and flexible development plans, and this  
6 is really critical because depending on the given  
7 disease, it may be a non-SDLT or an SDLT, depending on  
8 the point of the disease trajectory or an SDLT might  
9 be representing a more severe manifestation of a more  
10 common condition.

11 And so we'd like to work  
12 collaboratively to develop objective, quantifiable  
13 medical, clinical, and scientific data to help define  
14 the SDLT patient population, demonstrate the available  
15 therapy is inadequate, and that we can -- safety and  
16 efficacy of the (indiscernible) drug can be  
17 appropriately monitored in the clinic.

18 And this -- finally, guidance could  
19 clearly define the non-clinical development  
20 expectations for more efficient clinical --  
21 preclinical and clinical development modeled under ICH  
22 S9. Illustrative examples and guidance would also be

1 quite welcome. ICH guidance in this area would prove  
2 most beneficial.

3 We believe that FDA guidance could help  
4 pave the way for ultimate international harmonization  
5 that would really foster innovation in this area and  
6 provide patients access to urgently needed potentially  
7 efficacious therapeutics.

8 Next, I'd like to speak a little bit to  
9 question three in the (indiscernible) novel clinical  
10 trial designs, and particularly, we'd like to look at  
11 this through the lens of the PMC/PMR process. We're  
12 fully supportive of innovation in clinical trials,  
13 adaptive trials, master protocols, virtual trials, et  
14 cetera, and would like to see that also leverages in  
15 the post-marketing setting for PMC/PMRs.

16 We were quite pleased as we were  
17 putting this presentation together to see the FDA  
18 issue an updated version of their post-market studies  
19 and clinical trials guidance which we really hope will  
20 lead to more uniform approach for selecting PMR/PMCs  
21 because we have experienced some variation in how  
22 review divisions approach both the timing of

1 discussions around PMC/PMR selection as well as the  
2 types of studies involved.

3 This -- especially when these  
4 discussions happen late in the review period, it can  
5 lead to insufficient opportunity for scientific dialog  
6 around objectives and feasibility, and I believe the  
7 process would benefit from standardization and  
8 modernization. And three recommendations.

9 First, the process for determining new  
10 PMR and PMCs, both during the review period and in the  
11 post-market should be predictable, articulated, clear  
12 scientific rationale regarding the scientific  
13 questions addressed and, importantly, allow for  
14 sufficient time for FDA sponsored dialog and review of  
15 study objectives, feasibility design, including the  
16 use of nontraditional trial methods -- of novel trial  
17 designs.

18 Second, as the state of the science and  
19 the practice of medicine evolve in the post-market, we  
20 believe it would be helpful for FDA and the sponsors  
21 to periodically discuss progress in satisfying post-  
22 market studies, including issues around timelines,

1 feasibility, and relevancy.

2           And finally, methodologically sound,  
3 nontraditional trial designs and novel data sources  
4 should be considered as potentially a more efficient  
5 means of generating evidence in the post-market to  
6 satisfy a post-marketing commitment. For example, use  
7 of real-world evidence in the sentinel network to  
8 satisfy PMCs or PMRs or even composite datasets  
9 integrated from different data sources.

10           And we feel that it is very important,  
11 consistent with the existing statute, for  
12 demonstrating sufficiency of the (indiscernible)  
13 system or the sentinel network system prior to  
14 requiring a post-marketing requirement under 505(o)(3)B.  
15 We also recommend that there be a dialog with the  
16 sponsor and the rationale provided to the sponsor as  
17 well.

18           Finally, the third topic I'll discuss  
19 is with respect to question five and the topic of  
20 regulatory science. Since the Critical Path  
21 Initiative was established, FDA and industry have  
22 invested considerable time and resources and

1 consortia, (indiscernible) partnerships, pilot  
2 programs intended to modernize drug development and  
3 evaluation.

4 But despite these recent initiatives,  
5 it's not always clear how these new tools and  
6 methodologies and approaches will be integrated into  
7 regulatory frameworks, and importantly, what weight  
8 they will be given in FDA decision making across  
9 therapeutic areas.

10 And of our view, regulatory science  
11 initiatives and pilot programs could benefit from a  
12 structured change management and implementation  
13 process across the project life cycle, from the  
14 ideation to the initiation of the project to,  
15 ultimately, after generating learnings whether to  
16 adopt or not adopt a new process consistently across  
17 review divisions.

18 And we believe that this could be based  
19 on the principles of change management, of identifying  
20 what regulatory practice or tool we want to see  
21 changed, evaluating impact of the change on  
22 development, review, and regulation; planning and

1 implementation of the change across relevant offices  
2 and functions; and finally, validation and monitoring  
3 of, did it have the effect that -- and the outcome  
4 that we sought.

5           And we think a public communication  
6 plan in that respect would really be helpful to  
7 improve transparency and predictability in this space.  
8 And taken in tandem, this type of regulatory science  
9 change management and implementation approach could  
10 really engender a safe space for FDA and sponsor  
11 experimentation and innovation based upon a pre-  
12 specified expectation of -- jointly held expectations  
13 in the process.

14           I'll also briefly note that in our  
15 written comments, we'll also be following up on  
16 question four, examples of variation in FDA guidance,  
17 for example, around size and safety databases, waivers  
18 of non-serious adverse events for drugs, pivotal  
19 clinical trial replication, and application  
20 orientation and mid-cycle meetings and we look forward  
21 to providing those written comments. Thank you.

22           JIM SMITH: Thank you. could you



1 provide any examples? I'm curious in the PMR/PMC  
2 space, you're advocating for novel trial designs or  
3 innovative approaches. Do you have particular designs  
4 in mind that you think are geared toward issues that  
5 are generally the subject of PMRs and PMCs?

6 ANDREW EMMETT: Yeah, I think the  
7 post-market provides a unique circumstances where  
8 there's additional data sources that are not  
9 available, typically, in a premarket setting. I know  
10 it's out of scope of the meeting, but additional  
11 sources of real-world evidence from electronic health  
12 records, claims data.

13 That's the type of evidence that, one,  
14 can help to assess the feasibility of a post-marketing  
15 requirement to see if it even makes sense to answer  
16 the scientific question at hand as well as  
17 opportunities to develop unique sources of evidence to  
18 answer that question, depending on the research  
19 question at hand and whether the data itself is fit  
20 for purpose.

21 JIM SMITH: Thank you. And not seeing  
22 any other hands, I'll ask one more. With respect to

1 the structured change management approach that you  
2 suggested and kind of an implementation plan, are  
3 there areas that right now you think might be  
4 particularly ripe for an evaluation of OND practice  
5 and potential rollout more broadly? Or was it a  
6 general process that you wanted to encourage?

7           ANDREW EMMETT: I think it's  
8 intended to be a flexible model that would apply to a  
9 number of new regulatory science tools and methods.  
10 There are a number of ongoing pilot programs at FDA --  
11 the CID program, the MIDD program, RTOR, et cetera --  
12 and I think that there's oftentimes a question amongst  
13 industry of once that pilot concludes, is there a  
14 formal process for determining if it was successful or  
15 not and then if it was, how is it then democratized to  
16 cross review divisions so there's predictability that  
17 we can also leverage these tools. So those are the  
18 types of examples we've combined.

19           JIM SMITH: Thank you. Appreciate your  
20 discussion today.

21           CHERISE SHOCKLEY: Good afternoon.  
22 Today, we're here from the diatribe Foundation to

1 bring perspectives from people with diabetes and  
2 patient advocates as part of our commitment to  
3 diabetes and the diabetes epidemic. Living with  
4 diabetes is risky.

5 You might have to dose insulin, a  
6 potentially lethal drug with a narrow therapeutic  
7 range, you have a higher risk of heart and kidney  
8 complications, and for the most part, you have to  
9 handle the roller coaster of diabetes by yourself.

10 KELLY CLOSE: So patients in  
11 general in the United States are the envy of a lot of  
12 patients throughout the world because we have so much  
13 access to FDA and we just want to say thank you guys  
14 for that. There are many regulatory agencies all over  
15 the world where patients actually are never asked for  
16 their opinion and it's a big deal and it's been really  
17 amazing to be in community here today with so many  
18 different stakeholders, many patients among them.

19 And we've heard a bunch of different  
20 commonalities today, so appreciation for your  
21 increased focus on patient preferred outcomes that  
22 contribute to better short- and long-term outcomes,

1 acceptance of new improved tools for remote monitoring  
2 devices, gratitude for harmonization among agencies  
3 and divisions, and even more across-division  
4 consensus.

5 Requests for more acceptance of new  
6 data metrics is something that we've heard from a  
7 number of different constituencies, enabling better  
8 information in labels that really help drive improved  
9 clinical decision-making and better delivery of care.

10 And we want all of your work to lead to  
11 better delivery of care, especially in diabetes, the  
12 number of things that you have approved, the number of  
13 products you're approved, what you have made happen,  
14 it hasn't yet translated into as much improved  
15 delivery of care that we'd like, but we know that it  
16 can get there.

17 And last, we've heard a lot of requests  
18 for facilitating even more diversity in clinical  
19 trials and that is so important.

20 CHERISE SHOCKLEY: People spend less  
21 than one one-hundredth of one percent of their time in  
22 the doctor's office annually. Specifically, people

1 with diabetes spend anywhere from 24,000 to 110,000  
2 minutes a year making important decisions that  
3 directly influence their diabetes care, but they just  
4 spend 14 to 120 minutes tops getting decision making  
5 help from doctors and nurses.

6           EMILY FITTS: We really appreciate the  
7 FDA's recognition that in order for patients to be  
8 successful they must feel supported in their self-  
9 management. The FDA has the ability to expand primary  
10 and secondary end points that affect patients' medical  
11 and psychosocial outcomes, and in doing so, the entire  
12 healthcare system can benefit, particularly in terms  
13 of expanding productivity and lowering short-term and  
14 long-term costs.

15           In diabetes, the field has measured  
16 management in three-month averages through Alc, but  
17 people are living those three-month averages on a  
18 minute-by-minute basis.

19           KELLY CLOSE: Am I too high? I  
20 need to take medicine. Am I too low? I need to eat  
21 or take glucagon.

22           EMILY FITTS: That is the level at

1 which people experience their Alc. Like others today  
2 who have asked for new data metrics to be considered,  
3 we'd love to see this valuable measurement  
4 complimented by metrics on time in range, particularly  
5 advance glucose profile or AGP.

6 KELLY CLOSE: So time in range is a  
7 real priority for people who have diabetes and  
8 clinicians and everybody here knows someone with  
9 diabetes. The data shown here from the market  
10 research conducted by DQ&A shows that time in range  
11 has the biggest impact on the daily lives of any  
12 aspect of diabetes. That's true for people with Type  
13 1 and Type 2, both using insulin and not.

14 There's some really important cultural  
15 shifts that are going on right now in chronic disease.  
16 One of them is just a greater focus on mental health  
17 and on emotional wellbeing, which you can see is  
18 greatly needed in diabetes. We'd love to encourage  
19 more focus on standardizing and incorporating these  
20 measures.

21 There's also just a cultural shift with  
22 anyone who's lucky enough to have access to CTM or to

1 connected meters in moving to time in range as a way  
2 to supplement and compliments discussions about Alc,  
3 and this is just a quick look at how a patient's data  
4 can show much more or less success, even with a week  
5 or two and can enable patients to switch strategies to  
6 do better.

7 A renowned analysis conducted by Dr.  
8 Roy Beck and Rich Bergenstal in diabetes care last  
9 year showed landmark -- using landmark DCCT data has  
10 really validated time in range. This has already been  
11 cited dozens of times and come up in multiple  
12 scientific presentations all over the world.

13 As part of our work at diaTribe, we go  
14 to many scientific meetings at this moment when  
15 researchers give results and they don't say what  
16 happened in time in range and how much time is spent  
17 in hypoglycemia or hyperglycemia or how much weight  
18 change, people are at the microphones asking questions  
19 on that. And that is just seen as a very important  
20 increased measures.

21 CHERISE SHOCKLEY: Time in range is the  
22 most tangible and meaningful measure of success for

1 patients, whether or not it's being measured, because  
2 it captures variations. The highs, the lows, and in-  
3 range values that characterize the life of diabetes in  
4 a way that A1c cannot.

5 It enables us to measure our diabetes  
6 outside of the 15 to 120 minutes we spend with our  
7 beloved doctors and nurses by providing actionable  
8 information that is in context. Research tells us  
9 that even just the 5 percent increase in time in range  
10 is clinically meaningful.

11 KELLY CLOSE: So diabetes -- in  
12 diabetes, like in many conditions, excursions from the  
13 average are where negative costly health events occur,  
14 and obviously, everyone wants to bend the curve as  
15 much as possible with all chronic disease. We've  
16 heard a lot about that today. In diabetes, measuring  
17 time in range and out of range to determine  
18 appropriate and optimal therapeutic interventions helps  
19 us avoid these dangerous excursions, especially on the  
20 low end, and that's what helps us avoid severe  
21 hypoglycemia in particular.

22 CHERISE SHOCKLEY: Hypoglycemia is not



1       only dangerous and frightening for us and our parents  
2       and our kids and our friends in the short term, it is  
3       a clinically meaningful outcome that significantly  
4       affects patients' long-term health. Research shows  
5       that hypoglycemia begets hypoglycemia and drives an  
6       estimated \$7 billion in U.S. healthcare claims, \$3  
7       billion in lost productivity, and 300,000 U.S.  
8       hospitalizations and ER visits annually.

9                        FDA has an opportunity to establish  
10       hypoglycemia as a clinical meaningful end point. This  
11       would change our world.

12                      EMILY FITTS: And now, thanks to the  
13       evolution the FDA has enabled, we have better tools to  
14       measure our diabetes management in a way that enables  
15       better outcomes using continuous glucose monitoring  
16       systems or CGMs or connected blood glucose meters.

17                      CGM data is a hugely relevant end point  
18       for clinical trials and as it stands right now, as we  
19       understand it, the side of FDA that approves therapies  
20       doesn't yet have a formal pathway for accepting CGM  
21       data from clinical trials. We believe the FDA has an  
22       opportunity to establish more harmonization across FDA

1 divisions by accepting CGM data in the assessment of  
2 clinical trials in addition to Alc metrics.

3 The data can inform how time in range,  
4 Alc, and clinical outcomes interact, helping both  
5 patients and healthcare professionals make even better  
6 decisions. CGM is now the standard of care for people  
7 living with diabetes and how that enables time-in-  
8 range thinking is so valuable.

9 KELLY CLOSE: We know we're out of  
10 time. We just wanted to say that we have been so  
11 grateful over the years to come in when you've had new  
12 guidance documents. We'd love to see the responses to  
13 the guidance documents. We have loved how you've made  
14 labels easier to read and more patient friendly. We'd  
15 love to see even more of that. We'd love for the  
16 field to get even more guidance from FDA on diversity  
17 in clinical trials. We know some sponsors are doing  
18 it really well. Some are doing it less well. It's  
19 very challenging for everyone and it couldn't be more  
20 important, that's diversity in race, age, many  
21 different pieces.

22 The last thing is, prevention. As a

1 culture, we are thinking about how to be more healthy,  
2 biomarkers of health as it were, and it's not really  
3 part of the narrative yet about public health, about  
4 how much prevention FDA has enabled on the diabetes  
5 front. We say congratulations to them on the CVOT  
6 front and preventing kidney disease.

7 We certainly agree with the former  
8 speaker. We would like to see more work there. We'd  
9 also just love FDA to have more resources to consider  
10 how people can stay healthy and avoid diabetes  
11 altogether.

12 CHERISE SHOCKLEY: diaTribe has so  
13 appreciated the chance to be here in the community  
14 with so many other advocates today from all over the  
15 country.

16 JIM SMITH: Thank you very much.

17 KELLY CLOSE: Thank you.

18 JIM SMITH: Dr. Michele.

19 THERESA MICHELE: So while diabetes  
20 clearly requires the intervention of a healthcare  
21 professional to manage appropriately, as someone in  
22 the division of nonprescription drug products, I'm

1 really struck by the amount of time that you catalog  
2 that patients spend managing their own disease and I  
3 wondered if you had any recommendations for things  
4 that would help patients better manage their disease  
5 that could be available in the over-the-counter or  
6 nonprescription setting.

7                   KELLY CLOSE: We would really love  
8 to see CGM, as one example, become available over the  
9 counter. We know many people with prediabetes, if  
10 they're lucky enough to be able to get a prescription  
11 for it to be able to use it and Medicare, obviously,  
12 is covering this but not yet over the counter. We  
13 think also people are using it even for weight loss,  
14 et cetera, and who have really benefitted from work  
15 toward preventing Type 2 diabetes in particular in  
16 this case.

17                   We also certainly recognize that a lot  
18 of work on food policy that's not necessarily  
19 happening right here at FDA alone, much of that has to  
20 happen elsewhere in the government, but we love seeing  
21 you work on good policy. One in nine households is  
22 food insecure. There's so many people who are living

1 in food swamps. All of this would really help people  
2 with all kinds of diabetes.

3 JIM SMITH: Dr. De Claro.

4 ANGELO DE CLARO: Thank you for  
5 sharing your perspectives with us. My question is  
6 regarding your comment on how can FDA better improve  
7 our job regards to making our therapeutic labels more  
8 patient friendly.

9 KELLY CLOSE: Oh my gosh, so this  
10 is amazing. I mean, you've already started doing it,  
11 which is fantastic. So just plain English, right. So  
12 BAQSIMI is a recently approved product. It's glucagon  
13 -- just itself, it's transformative but it's so easy  
14 to read this label. Granted, there are challenges to  
15 getting patients to read labels at all. It's,  
16 obviously, little print, all of that.

17 The more that you're doing to get this  
18 education online and the more that you're supporting  
19 patients in this is incredible. This is plain English  
20 and this is really easy to understand and we know that  
21 was not accidental. That was very purposeful and  
22 deliberate and intentional and more of that, more

1 focus on that would be wonderful.

2 I don't know if you have any ideas on  
3 how to do it or the rest of you, but I'm sure your  
4 creative thinking would be wonderful. We know  
5 delivery of care is what is really hard once things  
6 have already been approved here.

7 JIM SMITH: Thank you. One more  
8 question from Dr. Yao.

9 LYNNE YAO: So thank you so much  
10 for highlighting all of the ways we can work on end  
11 points other than Alc. I wanted to actually pick out  
12 a smaller point in your talk about prevention because  
13 that hasn't come up today, and curious what you think  
14 are the barriers to more development in the prevention  
15 space, what do you think OND could do to help  
16 facilitate that?

17 KELLY CLOSE: Yeah, this is so  
18 exciting. I mean, obviously, on prevention a lot of  
19 that is on the screening side and I know that's not an  
20 area -- that's not necessarily an area of yours. I  
21 think just in terms of thinking about prevention, it's  
22 not just binary, like, you prevented it, you have -- I

1 know that you're looking at probably multiple  
2 therapies on the Type 1 side that would prevent  
3 diabetes even for a couple of years.

4           There's amazing anti-CD3 data at the  
5 ADA this year that was very exciting. Thinking about  
6 what therapies could delay, we saw amazing data from  
7 EISD on VERIFY that showed that combination therapy  
8 delayed time to over 7 A1c. There probably is --  
9 probably the same thing exists on six -- five and six,  
10 so thinking about maybe what would the pathway be on  
11 prevention, both for Type 1 and Type 2 would be  
12 wonderful.

13           There's so many different stakeholders  
14 in diabetes on all of these questions, like JDRF and  
15 Helmsley Charitable Trust and ADA and we know who  
16 could contribute to that question really meaningfully.

17           JIM SMITH: Thank you very much for  
18 coming today.

19           KELLY CLOSE: Thank you.

20           JAMES LOVE: Is there -- I can just use  
21 this to flip through the slides here.

22           MAN 1: Use the keyboard.

1                   JAMES LOVE: The keyboard? Okay.  
2           thank you very much. Hi. My name is James Love. I  
3           work for a nonprofit organization. We have office in  
4           Washington and Geneva, Switzerland. I'm going to talk  
5           today about two issues. The first one is reforming  
6           the FDA-managed nonpatent incentives for drug  
7           development from (indiscernible) test data, orphan  
8           drug, and pediatric testing exclusivity to the  
9           priority review voucher.

10                   The primary point is to introduce  
11           economic in the design of incentives. I would -- that  
12           requires, I think to do a good job, you have to start  
13           with transparency of what clinical trial costs are.

14                   Clinical trial costs are really an  
15           important issue in terms of determining how many years  
16           or months you want to give for an extension of  
17           exclusivity in justifying the kind of licensing  
18           practices that a government might use and determine if  
19           they want to give an exclusive license or how many  
20           years of exclusivity they'd like to give a license or  
21           what the price should be permitted to be, and yet  
22           there's a general mystery about what clinical trial



1 costs are and we think that that's really a bad thing  
2 and we should just -- those are just things that  
3 should be known.

4 And also, averages are not very helpful  
5 because if you look at -- I mean, you can build up  
6 averages after a while, but there's such a big  
7 variance in cost for different products, it's really  
8 important to have as complete data as possible to  
9 begin to understand more what the economics look like  
10 in drug development.

11 The incentives should be designed to be  
12 what's reasonably necessary to induce desired  
13 investments. The priority review voucher which is  
14 something that has almost a random value, depending on  
15 the number of priority review vouchers that have been  
16 awarded and the products that might create a demand  
17 for it and creates an irrational incentive in the  
18 sense that you take a product that doesn't merit a  
19 priority review and give it a priority review at the  
20 expense of other products, we think this should be  
21 replaced with cash market entry awards.

22 We think you should explicitly consider

1 the expected and actual value of sales revenue in  
2 looking at what incentives might look like. You  
3 should make some incentives optional and tied to the  
4 affordability or reasonable pricing conditions or  
5 introduce a means test. I'll give you a couple of  
6 examples. And you should not use exclusive  
7 (indiscernible) when it's cheaper to achieve the same  
8 result by funding market entry awards or subsidizing  
9 research directly.

10 The original Bayh-Dole Act -- I'm  
11 sorry, the original Orphan Drug Act in 1984  
12 conditioned the benefits of the Orphan Drug Act on a  
13 finding that the cost of developing, making available  
14 in the United States a treatment would not be  
15 recovered from the sales of the product in the absence  
16 of the benefits.

17 That was eliminated a few years later.  
18 In Europe, they still have a means test in the orphan  
19 drug exclusivity. It kicks in after the first five  
20 years on the market. You can -- a government can  
21 contest whether or not it makes sense to continue  
22 classifying a product as an orphan if there's evidence

1 that the product is sufficiently profitable.

2 I think as people here know, even  
3 products like Humira have qualified for orphan drug  
4 status. There's all these different things that you  
5 observe. Like when Gleevec first came in the market,  
6 they thought it would have 5,000 patients. It now has  
7 about 200,000 patients, generated over \$50 billion.  
8 For a while, it was generating over \$4 billion a year.  
9 It doesn't make sense to consider those things to have  
10 marginal economic feasibility.

11 This is one suggestion of how you might  
12 structure a voluntary incentive. If you had market  
13 awards for orphan products instead of just taking  
14 everything that had a mechanical 200,000 or more  
15 qualification -- patients qualification for  
16 determining whether you were eligible for the orphan  
17 drug tax credit or the exclusivity provision, to have  
18 a fund. It would reward people that develop products.

19 It could either be used to subsidize  
20 the trials like the orphan drug tax credit does now,  
21 which was cut, by the way, from 50 percent to 25  
22 percent in the 2017 tax bill, but you could either

1 subsidize the trials or you could give cash market  
2 entry awards or some combination of the two and you  
3 could condition it on reasonable pricing conditions or  
4 some limit on how long the exclusivity was.

5           For example, if the total cumulative  
6 returns exceeded targets, you could start to dismantle  
7 the exclusivity. Now everyone would want to  
8 participate in such a fund, but that would be actually  
9 a positive thing because the people that actually did  
10 participate in the fund would then get more money if  
11 fewer other -- if other people opted out, the people  
12 that remain in would get more and you'd have a --  
13 you'd target your incentives more efficiently in  
14 products that were actually not viable economically  
15 otherwise.

16           I'm a co-author of a paper with Aaron  
17 Kesselheim and others on the pediatric extension.  
18 When I started working on this paper, the first thing  
19 that jumped out at you was there was a lot of cases  
20 where the cost to consumers of a six-month pediatric  
21 extension for pediatric tests was over a million  
22 dollars per child that was in a treatment and in some

1 cases it was \$4 million a trial, and that's not a very  
2 well-designed incentive.

3 The takeaways on this, transparency is  
4 essential and you have to look all these different  
5 areas of the value chain and the economics, and prices  
6 are not the only thing to look at. I think you have  
7 to look at the revenues generated by the products.  
8 Often, the prices are really hard to establish in the  
9 beginning, (indiscernible) make sense for an orphan  
10 product, but the revenue, the total amount of money  
11 you make off your product is really the relevant thing  
12 for incentive.

13 The last point is on technology  
14 transfer, biologic drugs, vaccines, and cell and gene  
15 therapies. I think everyone, I think, here  
16 understands that in the area of biologics that unlike  
17 small molecules where when the patents fall, you begin  
18 to see intense competition and prices falling, in the  
19 area of biologics and new cell and gene therapies,  
20 that's not as much of a predictable outcome.

21 We made a proposal to the FTC in 2017,  
22 but earlier than that we made a proposal -- or we

1 should say, to the World Health Organization in 2017  
2 and the FTC last year -- of the types of forced  
3 technology transfer that would make the biologics  
4 market as competitive or more competitive or similarly  
5 competitive, the small molecules market.

6 That may seem like an ambitious  
7 proposal, but it's one that other people are reaching  
8 the same conclusion at.

9 This is a paper that was forwarded to  
10 me by one of the co-authors, Julian (indiscernible)  
11 sent me a copy of a paper he worked -- he made a  
12 similar proposal recently in the journal  
13 (indiscernible) medical ethics and he's addressing the  
14 issue that's not really ethical, to put people into a  
15 biosimilar trial when you already have a science and  
16 really have -- already have a therapy that actually  
17 works and that you really have to mandate the  
18 licensing of the cell lines and other technology to  
19 avoid having to force people to experiment and take  
20 risk in areas where you have products that already  
21 work.

22 Even more compelling, I think, is the

1 fact that Jeremy Levin, the incoming chair of BIO, has  
2 made a similar proposal of mandating after a certain  
3 period of years that you license cell lines for  
4 biologics, and so the products would be safer for  
5 patients.

6 My wife is a cancer patient. She's a  
7 terminal cancer patient on -- she's on her third  
8 regime (sic) right now. She's been in chemotherapy  
9 for 10 years and she's taken a series of biologic  
10 drugs, two of which were not on the market when she  
11 was first diagnosed, but some of the people taking the  
12 drugs that she's taken, have died. They were friends  
13 of ours.

14 If she was asked to take a bio-similar  
15 drug, it would be a difficult moment for us because we  
16 know that the drugs she's on right now, she's been  
17 fortunate, she's one of those patients that's done  
18 better than the average. She's done above the median  
19 in terms of patient outcomes, would she want to switch  
20 to a biosimilar.

21 And that's one of the reasons why you  
22 have a hard time getting people to switch to

1 biosimilar products, because if they're on a regime  
2 (sic) that actually works, they're reluctant to switch  
3 because they don't know how it's going to work and the  
4 same way with a small molecule. But if you have deep  
5 technology transfer, the same kind of technology -- to  
6 move a plant from one location to another location,  
7 this would not be such a large issue. Thank you very  
8 much.

9 JIM SMITH: Thank you. Question to my  
10 left.

11 KEITH FLANAGAN: Thanks for your  
12 presentation. In particular, most of the discussion  
13 today has concerned innovation and clinical and  
14 scientific content and kind of the top line takeaway  
15 from you is that's good but what about access. We  
16 can't think about innovation de-linked from access.  
17 Many of the proposals you flagged would require  
18 statutory change or --

19 JAMES LOVE: Some would, not all of it.  
20 Some would.

21 KEITH FLANAGAN: So the question  
22 is, with respect to access to innovative new



1 treatments, are there any things within our  
2 administrative or regulatory discretion that you would  
3 spotlight?

4 JAMES LOVE: Yes, I would start with  
5 the pediatric extension. Pediatric extension is only  
6 available if the FDA makes a request to a company to  
7 conduct the study. You don't have to ask the study if  
8 it's going to cost \$4 million a patient to have a  
9 private company do the study, the FDA, I think, the  
10 government should have the NIH or someone fund the  
11 study.

12 I think there should be some threshold  
13 on the expected cost to consumers when you no longer  
14 basically use an off-budget mechanism for finding, and  
15 that's something you can do under existing statute.  
16 And you raise an important issue. Among the other  
17 things that we've proposed, what things do you already  
18 have the authority to do and I'll come back to that in  
19 the comment period.

20 KEITH FLANAGAN: Right, we have  
21 the docket's open, so --

22 JAMES LOVE: Thank you.

1 KEITH FLANAGAN: Thank you.

2 JIM SMITH: Thank you very much for  
3 your presentation. Appreciate it.

4 ANDREW ROBERTSON: All right, thank  
5 you. thanks for the invitation. I'd like to start by  
6 thanking the FDA for holding this forum today. I know  
7 I'm not the first to thank you guys, but this is a lot  
8 of time on your really busy schedule, so I think this  
9 is really an important meeting.

10 My name's Andrew Robertson. I'm the  
11 head of regulatory science and policy for North  
12 America at Sanofi. As our time is short, I'm not  
13 going to touch on a lot of the other proposals that  
14 were brought up already. We agree with many of them.

15 We've actually contributed to a lot of  
16 them, but what I'm actually focused on is one specific  
17 proposal which we think deserves a little bit more  
18 attention, and that's the development of a reliable  
19 quality dataset that would capture regulatory  
20 processes, outcomes, and metrics and this really all  
21 speaks to transparency.

22 Many elements of what I'm going to be

1 discussing here have already been described in the  
2 openFDA initiatives starting in 2013 under Dr.  
3 (indiscernible), but we like to encourage the FDA to  
4 actually expand upon this initiative even further and  
5 the principles it has captured.

6           So at the risk of stating the obvious,  
7 I'll start with a couple of key points. So we  
8 recognize that innovation in drug development relies  
9 in part on regulatory predictability and flexibility.  
10 We're not calling for absolute consistency across FDA  
11 review divisions. I think this is important. What  
12 works in one therapeutic area doesn't necessarily work  
13 in others and we get that.

14           But what we are actually looking is the  
15 ability to anticipate what the FDA's expectations  
16 might be and this would actually give us the ability  
17 to meet those before having to wait for guidance or  
18 wait for the fifth or sixth case study to actually  
19 come forward, and predictability is key.

20           And like I said, this is built upon  
21 guidance. It's built upon case studies, experience,  
22 communication with the agency, and most importantly,

1 it's built on data. There are several public and  
2 externally facing databases that could provide  
3 important information; however, they have limitations  
4 and I'll get back to these in a later slide.

5 So expanding the concept of an openFDA  
6 would go far to build on this predictability and help  
7 support and further innovation from companies. A  
8 properly constructed database will help stakeholders  
9 move beyond anecdote and supposition. It would  
10 actually help us take a data-driven approach to inform  
11 regulatory strategy in our product development  
12 approach.

13 So -- I'm sorry, keyboard. So it  
14 shouldn't be a surprise that companies and researchers  
15 can learn a lot by building future profiles around  
16 specific products. We're not the only company to do  
17 this. This is regulatory intelligence, pretty much.

18 But by looking across datasets like  
19 Daily Med and Drugs@FDA, we can actually stitch  
20 together profile snapshots of specific products and  
21 the regulatory context. Internally at Sanofi, we've  
22 mined close to 50 datasets, actually, either public or

1 commercially available, to help develop these  
2 profiles. And we could take it even further than just  
3 actually getting a product by product approach.

4 We can actually start testing trends  
5 and identifying patterns that start to emerge. As the  
6 regulatory science starts to develop we can actually  
7 anticipate the direction that it's going to go.

8 However, there is no single dataset yet that contains  
9 all the relevant information that we would look for.

10 We have to link and cross reference  
11 these datasets to get a composite picture and this is  
12 resource intensive. It's inefficient. It's imprecise  
13 and bottom line is it's just not scalable. So  
14 regardless, though, the data that we can get hold a  
15 lot of value. For one, they inform our product  
16 development strategy and they enable identification  
17 and comparison with similar prior regulatory  
18 submissions.

19 We're able to analyze precedent and  
20 anticipate FDA's expectations and preferences on a  
21 granular product specific level. Likewise, these data  
22 can actually help stakeholders identify issues of

1 consistency between review divisions.

2           So we've heard not just today but in  
3 other contexts, industry claiming that the FDA is not  
4 consistent in its approach. But these claims are  
5 really primarily based on observation and experience.  
6 They're not necessarily always data. They don't have  
7 a foundation in data. What we can do, and this is  
8 kind of a call to industry as well, we can and we  
9 should be moving this towards a data driven analysis  
10 to really understand where these inconsistencies might  
11 lie and figuring out why they are there.

12           This past summer, we published a study  
13 that actually looked at this approach a little bit  
14 more, so specifically, I know patient experience data  
15 isn't a topic for today, but we wanted to use this as  
16 an example of what data can actually do.

17           So we wanted to know how the FDA  
18 utilized patient experience data during 2018 drug  
19 approvals, so what we did is we took advantage of  
20 Section 3001 under 21st Century Cures that requires  
21 the public statement from FDA about how they use patient  
22 experience data in each of their approvals. What we

1 did is we actually manually scraped this data.

2 We combined it with review  
3 documentation, cross referenced it to Drugs@FDA and  
4 ClinicalTrials.gov databases and we were able to break  
5 down the actual application to patient experience data  
6 in a number of contexts by FDA office, review  
7 division, regulatory designation, and the method of  
8 data collection itself.

9 This analysis helped inform our  
10 internal patient engagement strategy and it can  
11 demonstrate possible trends within review divisions  
12 and it actually serves as a foundation as well, so as  
13 we move forward we can actually start seeing which way  
14 the winds are blowing.

15 Third, analysis of these data can  
16 actually also help us assess the impact of FDA  
17 policies in drug development. Now again, there's  
18 several questions that we can ask here relating to  
19 issues like, what's the value of an expedited review  
20 pathway to a company. What about incentive programs?  
21 We heard the priority review voucher program mentioned  
22 on the previous speaker and also, other initiatives

1 that the FDA has implemented or might be considering  
2 to implement.

3 So another study that we actually did,  
4 we published last summer which specifically at the FDA  
5 Cardiovascular Outcome trial requirement for approved  
6 Type 2 diabetes drugs. We did this study in response  
7 to the 2018 advisory committee meeting where -- and  
8 this kind of stuck with me -- one of the committee  
9 members said that they saw no evidence for this CVOT  
10 requirement having any impact on innovation and  
11 industry investment, so we wanted to look at that.

12 Again, we thought the claim was based a  
13 little bit more on speculation than data, so we cross  
14 referenced data from ClinicalTrials.gov, Drugs@FDA,  
15 and combined it with the Google patents database as  
16 well and we demonstrated what we thought was a  
17 correlation between implementation of the CVOT  
18 requirement and a decline in industry-sponsored Type 2  
19 diabetes research.

20 Now, we don't claim this is causation  
21 by any stretch, but our analysis take us one step  
22 closer to really understanding what is the impact of



1 an FDA policy, and then again, as we see this is a big  
2 partnership between industry and academic stakeholders  
3 and the FDA, can we actually then start developing  
4 recommendations to approve upon those policies.

5 And then fourth, it's worth noting that  
6 if properly structured, accessible datasets, that they  
7 would actually enable prediction modeling through  
8 machine learning. We've seen a huge growth in this  
9 area from over the past few years. An earlier speaker  
10 spoke to this as well.

11 These models go beyond traditional  
12 statistical analysis and they integrate a wide range  
13 of variables to generate a more precise prediction  
14 specific to products, therapeutic areas, and clinical  
15 programs. So here, I actually pulled an example that  
16 didn't come from us but actually came from the FDA.

17 So in spring of 2018, Hugh, et al.  
18 published a model where they used FDA internal data to  
19 predict how soon an ANDA application would be filed  
20 following a loss of exclusivity and they actually got  
21 it to about 80 percent accuracy.

22 So internally at Sanofi, we're building

1 similar models to predict timing -- for example,  
2 timing of efficacy supplements, will there actually be  
3 a -- can we anticipate a shortened FDA review period,  
4 can we actually anticipate the resource requirements  
5 that would be needed during review cycle. But we are  
6 limited by the reliability and accessibility of the  
7 regulatory metrics in our process data.

8           So, look, the potential value of these  
9 data are well recognized and Sanofi is definitely not  
10 the first to come up with this idea. These are just a  
11 sampling of publications that cite the use of these or  
12 similar datasets and better understanding how drugs  
13 are developed, regulated, and monitored. They're  
14 authored by pharmaceutical companies, academic  
15 institutions, and the FDA themselves.

16           Likewise, FDA reports, communications,  
17 and initiative have understored the importance and  
18 value of regulatory process data and we've seen a  
19 couple of examples of this emerge recently. But as  
20 obvious as this concept actually is, each of these  
21 instances have run into one or more problems. For  
22 example, conflicting data between sources, difficulty

1 in linking various datasets.

2           There might be unstructured format or  
3 the data might be in a PDF format which makes it  
4 difficult to ingest. Lack of specificity or  
5 granularity regarding metrics and end points, data  
6 collected imprecisely across review division. The  
7 information might not be timely or there might be  
8 delay in access or it may not just be publicly  
9 accessible at all, requiring, for example, manual  
10 collection or even a FOIA request in some instances.

11           So this is my last slide, what we were  
12 talking about when we were actually saying advance in  
13 openFDA. First, we believe that the FDA -- we  
14 recommend that the FDA expand on what it's already  
15 doing. We understand there is an active knowledge  
16 management initiative. We've seen the TMAP for  
17 actually updating the internal IT infrastructure.  
18 This is an area there's an opportunity for -- to  
19 promote reliable and public access to this data. We  
20 think there's a lot of benefit that can come from  
21 that.

22           And second, again, I think the word

1 partnership -- hopefully I said that enough times  
2 during this talk -- we kind of think this should be a  
3 partnership. We would be able to not only with  
4 industry, but also with academic researchers, actually  
5 help address things like what does reliable data look  
6 like, what does accessibility look like.

7 We can actually help mitigate the  
8 burden of data collection as well within the FDA. We  
9 don't want this to be a resource-intensive initiative  
10 either, but can we -- and finally, and I think  
11 importantly, can we navigate confidentiality concerns.  
12 So as I said at the start of my time, we don't believe  
13 this is the only idea to advance drug development and  
14 Sanofi isn't the first to bring this concept forward.

15 But we do believe it's a concept with  
16 great importance and potential and in this one, like I  
17 said, that we think deserves a bit more attention.

18 Thanks.

19 JIM SMITH: Thank you. Dr. Marzella.

20 LOUIS MARZELLA: I think that this  
21 is a very important talk because it sort of adds meat  
22 to the anecdotal sort of concerns that we've heard

1 regarding the consistency of regulatory approaches.  
2 So to what extent is there reliable analysis of --  
3 based on public information of what regulatory  
4 practices are across divisions, across diseases, and  
5 this would be enormously, I think, useful effort to  
6 try to characterize the actual practices, and so can  
7 you give us some more insight in terms of how do you  
8 see this effort moving forward?

9           ANDREW ROBERTSON: I think that you  
10 raise a really good initial point which is kind of  
11 indexing what's already been done and how accurate  
12 that is. Some of the reports that we've done  
13 internally have actually shown inconsistencies. I  
14 brought up the study that we did on patient experience  
15 data. There were inconsistencies between review  
16 divisions on how that data is actually collected.

17           Another publication that came out about  
18 a year, maybe two years ago from Duke Margolis and the  
19 Deerfield Institute, they actually tried to index IND  
20 start dates. They looked at the FR register as well  
21 as Drugs@FDA and they actually found conflicting  
22 dates, I think, in about 50 to 60 percent of the time.

1           So there is an issue that we actually  
2 would need to start indexing where are the  
3 inaccuracies. So I think there's more that we can go  
4 into and we can put those in our written comments, but  
5 that's just to say as a cursory -- again, that's an  
6 anecdotal response to something which should be a data  
7 driven initiative.

8           LOUIS MARZELLA: The promise, of  
9 course, would be to identify best practices and so  
10 again, this -- it would seem that this would be an  
11 enormously productive effort to try to optimize the  
12 way that regulation is done to enhance the  
13 efficiency of product development.

14           JIM SMITH: Dr. Chambers.

15           WILEY CHAMBERS: Have you put  
16 together a list, and if so where, of all of the end  
17 points that you would like to see collected?

18           ANDREW ROBERTSON: We have our list,  
19 but I wouldn't suggest you go off of our list. This  
20 is -- again, this is why a partnership is important,  
21 because if you're going to do this, you may as well do  
22 it right from the get-go. So this is where we could

1 provide a lot of the information that we would love to  
2 see gathered.

3 I think it would be really interesting  
4 to see what others are interested in as well and even  
5 though this is data driven approach, I think that  
6 still it can be question driven and sometimes you  
7 don't know -- the questions can actually help  
8 anticipate what data we want to collect going forward,  
9 so I think that's where at least trying to get a  
10 partnership up front to figure out what are we most  
11 interested in tracking over time is important.

12 WILEY CHAMBERS: So I guess I would  
13 suggest in the comment period that you include --

14 ANDREW ROBERTSON: Yes.

15 WILEY CHAMBERS: -- at least your  
16 list.

17 ANDREW ROBERTSON: Yes, we will. Yes,  
18 we will.

19 KEITH FLANAGAN: Yeah, just  
20 echoing and amplifying Dr. Chambers. The purpose of  
21 the meeting is to try to gather as many specifics as  
22 we can. We make hundreds of thousands of regulatory

1 decisions annually and so we have to start in specific  
2 hotspots.

3 ANDREW ROBERTSON: Well, I mean, if I  
4 can really quickly, I mean, there are some --  
5 sometimes, the data is already captured and it just  
6 might be an issue of making it publicly available.

7 Again, I could point back to -- so in  
8 May of this year, there was a draft guidance on  
9 actually -- again, RWE isn't one of the topics of this  
10 meeting, but on actually tracking RWE use and  
11 regulatory decision making, but within that guidance,  
12 we didn't see anything about public access and that  
13 was actually one of our comments that we submitted to  
14 the docket on that point.

15 So this is trying to -- yes, we get it.  
16 There's always going to be more information to  
17 collect, but the accessibility of it might be  
18 something to work on in the immediate term.

19 LOUIS MARZELLA: One final --

20 JIM SMITH: Go ahead, Dr. Marzella.

21 LOUIS MARZELLA: One final comment,  
22 if I may. I think expanding the overview to include



1 other regulatory bodies and their experiences would  
2 also be helpful and this would be information that the  
3 manufacturers would have more access to than we would  
4 have.

5 JIM SMITH: Dr. Yao.

6 LYNNE YAO: So I'm going to ask a  
7 question, and if it's out of bounds, I guess our  
8 moderators will let me know. So I take everything  
9 that you're saying and I think it is important in  
10 terms of transparency, openFDA to see how we can  
11 increase the efficiency of what we're doing for the  
12 sake of getting these drugs out to people.

13 But I'm colored and influenced by our  
14 last presenter, our last speaker, about transparency  
15 on the other side. So could I ask you the question  
16 about how do you believe the transparency and  
17 reporting revenues in -- my influence this openness of  
18 communication?

19 ANDREW ROBERTSON: Even if that was in  
20 bounds, I don't think I have the personal authority to  
21 respond to that. So, I mean, I can give you a contact  
22 to my vice president if you'd like.

1                   JIM SMITH:  Totally fair answer.  I'll  
2                   just add one plug for you to consider during the  
3                   comment period, because I know we have to move along,  
4                   but obviously you've heard that there would be  
5                   interest, I think, from FDA staff as well as on your  
6                   side to have, perhaps, a better and more rigorous  
7                   ability to have a window using data into what we do  
8                   across many divisions over -- on a daily basis.

9                   From a disclosure perspective,  
10                  obviously, if we were only able to disclose data  
11                  regarding applications we have approved, that has the  
12                  potential for selection bias, right.  Obviously, we  
13                  might be able to see the entire spectrum but something  
14                  for you to consider is whether or not there might be -  
15                  - if you have any ideas of how to tackle that  
16                  challenge, since on your last slide in red text you  
17                  made the point that you need to protect commercial  
18                  confidentiality.

19                  ANDREW ROBERTSON:  Yeah, absolutely.

20                  JIM SMITH:  Thank you very much.

21                  ANDREW GUSTAFSON:  Good afternoon.  I'm  
22                  Andy Gustafson.  I'm senior director of U.S.

1 regulatory policy and advocacy at GlaxoSmithKline and  
2 I'd first like to echo the thank-yous from many of the  
3 other speakers for your willingness to take the time  
4 to hear from us today, some of our considerations  
5 during this listening session. It's very much  
6 appreciated.

7 I'm going to speak to -- okay, I'm  
8 going to speak hopefully about two specific  
9 suggestions we'd like to make during this session.  
10 The first one relates to your first question,  
11 specifically, around asking where we could suggest  
12 agency could improve clarity and encourage effective  
13 drug development programs.

14 And the first topic is regarding the  
15 posting of FDA reviews for new indication efficacy  
16 supplements. We would like to suggest that sponsors  
17 and other stakeholders would benefit and perhaps even  
18 FDA themselves, if review summaries for new indication  
19 efficacy supplements were posted to the FDA website as  
20 is currently done with original new drug applications  
21 and BLAs.

22 I think we know well the review

1 summaries for NDAs are posted in a very timely basis  
2 within about 30 days. The review summaries for new  
3 indication efficacy supplements are very rarely  
4 published and companies usually have to go through FOI  
5 requests and wait considerable lengths of time, up to  
6 12 months to receive those documents.

7 So we feel that these -- this proposal  
8 would have potential benefits by promoting a learning  
9 environment where sponsors could gain insights from  
10 recent FDA decisions that can be applied to our  
11 ongoing thinking and planning regarding development  
12 programs and clinical trials.

13 And this is especially important in the  
14 environment that we're working in today, where we're  
15 seeing sponsors applying innovative clinical trials,  
16 real world evidence, digital technologies, and other  
17 topics that we've heard about today and to have a more  
18 timely awareness through posting of information like  
19 this would be very helpful.

20 We feel it would facilitate more  
21 focused FDA meetings and briefing packages that  
22 sponsors would prepare with better informed questions

1 being posed to the FDA review teams and perhaps even  
2 fewer meeting requests coming in if we had greater  
3 access to this type of information. It would be very  
4 much more efficient to share that information broadly  
5 than for individual sponsors or stakeholders to be  
6 making these requests through the Freedom of  
7 Information processes.

8 I'd also like to suggest that this  
9 proposal relates to FDA question five, where you talk  
10 about the tension between, you know, companies trying  
11 to decide whether to use an innovative approach versus  
12 a more traditional approach, and the more information  
13 that we have access to, to build into our thinking or  
14 precedents, then perhaps the more willing sponsors  
15 might be to go ahead with a more innovative approach  
16 in their programs.

17 The next topic I'd like to talk about  
18 related more to question number three, talking about  
19 innovative trial designs. And specifically, this  
20 suggestion is around the use of historic or external  
21 data in clinical development and it is a topic that  
22 others have touched upon in the meeting today.

1           But we propose that sponsors and other  
2 stakeholders would benefit from robust scientific  
3 dialog and enhanced clarity on the acceptable use of  
4 this data in clinical development, especially in  
5 trials that are forming the basis of new drug  
6 applications to support regulatory decisions for new  
7 drug applications, BLAs, labeling changes,  
8 supplements, and that nature.

9           The potential impact, we feel, is for  
10 more efficient drug development by utilizing more of  
11 the data that's available to form benefit-risk  
12 decisions and possibly the potential for reducing  
13 patient exposures and reducing development times. We  
14 feel it's recognized already that clinical studies  
15 that use historical or external data do have a place  
16 in drug development.

17           A number of designs have been proposed  
18 in this area and some examples include designs where  
19 used to increase precision of a current trial by using  
20 historical data from past studies; extrapolation from  
21 one population to another, for example, from adults to  
22 pediatrics; leveraging data across different but

1 related disease subtypes within a clinical trial, for  
2 example in basket studies; and sharing information  
3 about patient responses to different therapeutic  
4 interventions.

5 Similarly, a number of statistical  
6 methodologies have been developed to ensure robustness  
7 of the inferences drawn when utilizing historic or  
8 external data, dynamic borrowing, propensity score  
9 matching, synthetic control arms, model based meta-  
10 analyses.

11 But there remains some areas of  
12 regulatory uncertainty around selection of the sources  
13 of external or historic data to be utilized in the  
14 study, analysis methodologies to ensure the robustness  
15 of study inferences, and appropriate metrics to  
16 evaluate operating characteristics including  
17 alternatives to control of type one error.

18 So we will acknowledge that the use of  
19 historic external data is discussed already in several  
20 FDA guidances, the ones for rare diseases, one  
21 regarding non-inferiority clinical trials, as well as  
22 the guidance around adaptive trial designs. But we

1 feel that there would be a benefit to having a  
2 comprehensive singular FDA guidance on the use of this  
3 data in clinical development.

4 So I'll conclude with our two proposals  
5 in this area, is one, to encourage a robust open  
6 dialog about the appropriate use of historic external  
7 data for regulatory decision making through your  
8 public workshops that you are very good at pulling  
9 together. And secondly, to enhance regulatory clarity  
10 through parallel development of a comprehensive  
11 guidance document focusing on this data in clinical  
12 development.

13 And that is the conclusion of my talk.

14 JIM SMITH: Thank you. I can't tell,  
15 is that Dr. Hertz? Sorry. Yeah, Dr. Hertz.

16 SHARON HERTZ: In reference to your  
17 comment about posting the memos for the efficacy  
18 supplements so that there could be shared learning  
19 from those experiences, what are your thoughts about  
20 posting the memos from non-approvals which I think  
21 could be even more informative to companies and really  
22 help avoid a lot of missteps?



1                   ANDREW GUSTAFSON: Yeah, that is an  
2 interesting suggestion and I think that was raised in  
3 the previous discussion. This proposal that we're  
4 making really deals with those that make it through to  
5 approval, and of course, there would be learnings from  
6 the other side of the coin, those that don't make it  
7 through, so I think that's an area for discussion with  
8 sponsors to understand the issues that would be --  
9 need to be dealt with in order to develop a comfort  
10 level to do those types of things.

11                   JIM SMITH: Dr. Roman.

12                   DARGOS ROMAN: Yeah, I had a  
13 clarifying question regarding the use of historic or  
14 external controls. Is the desire to have -- was the  
15 presentation geared toward primarily rare/nonrare  
16 diseases?

17                   That would be my first question because  
18 the followup to that is, you mentioned that you have  
19 there is a lot of information in the current guidances  
20 but not enough, so I'm looking at the rare disease  
21 natural history studies for drug development draft  
22 guidance which was issued in 2019 and is dedicated to

1 this topic.

2                   Would you be able to elaborate to us  
3 what more would have like to see in that guidance,  
4 what is it missing as a reader of the guidance that we  
5 maybe didn't pay attention of and that would be  
6 helpful for us. Thank you.

7                   ANDREW GUSTAFSON: I think I'll address  
8 your first question first around the breadth of  
9 applicability, I think we were thinking this.  
10 Certainly use of historic and external data has had  
11 relevance in rare diseases and other indications.  
12 What we're thinking is that this has a potential  
13 utility. More broadly and going forward through  
14 dialog we can define how to appropriately use this  
15 type of data more broadly across a broader spectrum of  
16 therapeutic indications.

17                   And I don't have prepared for you  
18 recommendations around the existing guidances and I  
19 think the suggestion was more around, we've got this  
20 topic covered in three different areas so if we're  
21 going to try to drive consistency and have a kind of  
22 an overarching policy on the use of this types of

1 data, it would be nice to have it in one place. I  
2 will take your question under consideration when we  
3 think about submitting replies to the docket.

4 JIM SMITH: Thank you. And Dr. Yao.

5 LYNNE YAO: So this isn't a  
6 question, just a clarification. Actually, it turns  
7 out pediatric efficacy supplements that are submitted  
8 under PREA, those that receive exclusivity under BPCA,  
9 those medical, statistical, and clinical pharmacology  
10 reviews are available online.

11 KEITH FLANAGAN: Thank you very  
12 much. You are the last speaker in session 3, so we're  
13 going to aspire to take a 10 minute break and resume  
14 at 3:20.

15 We'll now start with session 4. As  
16 with the previous presentations, I'll announce the  
17 first speaker, but not subsequent ones. So please  
18 approach the podium when the slide that lists your  
19 name and affiliation appears on the screen. After  
20 your remarks, please remain at the podium to allow the  
21 panel an opportunity to ask questions.

22

1                   The first speaker for session 4 is  
2 Frank Sasinowski, Vice Chair of the EveryLife  
3 Foundation for Rare Diseases. Frank?

4                   FRANK SASINOWSKI: Thank you, Dr.  
5 Flanagan. Thank you for allowing me to be here. I  
6 have three ideas that I'd like to share on rare  
7 diseases, in particular, since I'm here for the  
8 EveryLife Foundation for Rare Diseases. One, it was  
9 good to hear the Glaxo representative talk about  
10 external controls. I'm going to talk about external  
11 controls.

12                   Second, everybody would like to have  
13 more intra-OND consistency. I have a few practical  
14 ideas. And the third is something that I've spent my  
15 career working on with how do we articulate the  
16 quantum of effectiveness evidence that's necessary for  
17 rare disease therapies? So, those three topics, I'd  
18 like to address.

19                   The first is, what about external  
20 controls, and why am I interested in having external  
21 controls? And I'm interested in it because in the  
22 areas in which I'm dealing with, sometimes we have

1 maybe 100 patients in the United States with a rare  
2 disease. We're going to have a very small trial.  
3 Even if we can have 20 subjects, it's almost a  
4 miracle. And in that case, with these rare diseases  
5 we don't know the pathophysiology. We don't know all  
6 the ideologies.

7 So just by chance, we cannot know  
8 that we will not by chance have in the control arm or  
9 in the investigational arm a misrepresentation of  
10 those who are more likely to progress rapidly. So you  
11 could have a Type 1 or a Type 2 error by chance in the  
12 gold standard, a randomized control trial.

13 So my approach has been to tell  
14 sponsors for a long time that whenever possible, you  
15 should always depend upon other external controls,  
16 that is both a patient as their own control. As soon  
17 as a sponsor is interested in working in an area,  
18 (indiscernible) Epidermolysis Bullosa, start putting  
19 together a registry, a natural history cohort, and  
20 start using all the measures that you would want to  
21 look at, the key clinical features of that disease, so  
22 that when it comes time to have an intervention and to

1 actually begin screening and enrolling subjects, you  
2 could look backwards at those subjects and see if  
3 they've actually had a change from what they had  
4 experience before they were enrolled in the trial.

5 In the same way, I'd like to look at  
6 natural history it's just another way to look at the  
7 controls that you have. And you can see whether or  
8 not the natural history that you match by either best  
9 match, best rematch, you know, so you can do any kind  
10 of virtual matching, many different ways as different  
11 activity measures. So, you look at the natural  
12 history controls and see how do they compare to your  
13 subjects who were randomized to the control arm; how  
14 do they compare to the ones who were randomized to the  
15 experimental arm.

16 So I think what you end up having, in  
17 my mind, is you actually have three different sources  
18 of information that will help you in the context of  
19 small trials determine how credible the findings are.  
20 The alternative world is just to rely upon your RCT,  
21 your randomized control trial. In that case, you have  
22 your results. And like I said, in rare diseases,

1 often you don't know all the prognostic variables that  
2 are really important for predicting who's going to  
3 deteriorate more rapidly.

4 So in that case you have a situation in  
5 which if you look at external controls, both patients  
6 as their own control, or a natural history control,  
7 you have other ways to be able to assess the  
8 reliability of the conclusions you're making from your  
9 trial results.

10 The other thing that has come along,  
11 and I know that Dr. Telba Irony at the NORD Summit  
12 just was explaining hybrid controls, and it's  
13 something that we've been talking about, which is to  
14 take people who are natural history controls and try  
15 to match them into your control arm.

16 So, for instance, if you have, again, a  
17 situation in which you have a very small number of  
18 subjects who are willing to participate in a trial,  
19 20, instead of having them randomized 10 to 10, you  
20 might have them randomized 15 to 5. And then take the  
21 five who are randomized to control and augment that  
22 control arm by matching out of a natural history

1 database people who meet those same prognostic  
2 features.

3 And so in that way, you're able to  
4 expose more people to the investigational arm so that  
5 you have more safety data on what the investigational  
6 arm will do, as well as you then still have more  
7 information in the control arm by expanding it through  
8 your natural history control.

9 So my rule is always to have all three  
10 controls if you possibly can. A concurring control  
11 that's randomized, an external control that's a  
12 patient as their own control, and then also a natural  
13 history control. And a variant of the natural history  
14 control is having this hybrid control to expand.

15 And I put together a list of some of  
16 these natural histories, just over the last couple  
17 years. What the Agency -- if you look at this list,  
18 you'll see some examples of products that have been  
19 approved in the last five years using natural history  
20 controls. And I categorize them as patients as their  
21 own control, or prospective natural history, or  
22 retrospective natural history.



1           The Glaxo speaker mentioned the March  
2           2019 guidance on rare diseases, I think that's  
3           excellent. It's a great start. Dr. Roman pointed  
4           out, well, what else do we need beyond that? I think  
5           it is a great start, there are more things that can be  
6           expanded on.

7           And I think that Brineura is an example  
8           that several senior FDA officials have used publicly  
9           to cite as an example of the good use of an external  
10          control.

11          Promoting intra-OND consistency,  
12          bridging commonalities, was a report that came out in  
13          May 2019. I think we don't always have to reinvent  
14          the wheel with rare diseases. Sometimes we can rely  
15          upon commonalities, and I see that being done.

16          At the NORD Summit last month, Dr.  
17          Woodcock described a new division of rare diseases and  
18          medical genetics as a virtual "center of excellence  
19          for rare diseases." She noted, though, that it would  
20          be the thought leader for rare diseases in most areas,  
21          but not for oncology or neurology. But that's one  
22          attempt to have some intra-OND consistency by having

1 an organization that's tabbed as being the thought  
2 leader within OND on rare diseases.

3 Another possibility is to look at the  
4 model that Rick Pazdur used in the Center for  
5 Excellence for Oncology, and that is he's for  
6 volunteers to fill novel posts within his Center for  
7 Excellence. So, you could ask for a dedicated medical  
8 officer in each OND review division to see if that  
9 person would be accountable to understand the science  
10 of small trials and to exercise the scientific  
11 judgment across OND divisions, so they understand  
12 that. You could even consider them as possibly an  
13 associate director for rare diseases within each  
14 review division.

15 Similar to that, you could have a  
16 designated reviewer who's the expert on the science of  
17 small trials. I had a meeting today that was outside.  
18 Everybody knows rare diseases are often in neurology  
19 and in the division of gastrointestinal or  
20 inborn errors of metabolism. But I had a meeting  
21 today that was in another division that was for a rare  
22 disease. I had a meeting on Tuesday that wasn't a

1 rare disease but was in a different division.

2 So if when we go into these other  
3 divisions within OND, if there was a person who was  
4 tabbed to be the person responsible for understanding  
5 the science of small trials, I think would lend some  
6 consistency, instead of each time going into a  
7 division and then having to work with new reviewers  
8 who aren't familiar with this because that division  
9 doesn't have to have the same workload in rare  
10 diseases that other divisions like neurology and DGIEP  
11 have.

12 The last topic -- and I'll go through  
13 this quickly -- Dr. Stein -- at the September 6th, the  
14 EveryLife Foundation's annual scientific meeting, Dr.  
15 Stein put up a slide that I think was really  
16 revelatory. And that was, he talked about the  
17 different ways that you could have a single trial,  
18 plus confirmatory evidence, which is the 1997 law.  
19 But it has seldom been articulated as clearly as Dr.  
20 Stein did in this slide, which I'm replicating for  
21 you.

22 In that slide, he talked about

1 different ways that you can have confirmatory  
2 evidence. And I think that this kind of articulation  
3 of the different ways you can have confirmatory  
4 evidence by looking at trials from related  
5 indications, which was in the May 1998 Clinical  
6 Evidence of Effectiveness Guidance document, but these  
7 others, like compelling mechanistic information,  
8 including from non-human, non-clinical trials, and  
9 looking at natural history, and then looking at the  
10 same pharmacological target. So these are all  
11 different ways to have confirmatory evidence.

12           And the last thing I wanted to say is  
13 that the FDA has evolved its articulation of how it  
14 expresses what is the quantum of efficacy information  
15 that's necessary to approve a rare disease therapy.  
16 Over time, it's involved. And it's improved as it's  
17 evolved over time. And I walked that through on the  
18 slide. I'm not going to talk it through, but I walked  
19 in through on the slide.

20           But even today, with the clearest  
21 articulation that we've ever had from FDA on what's  
22 necessary to approve a rare disease, we still don't

1 capture about one-third of all the rare disease  
2 therapies that FDA appropriately approves.

3 So, the FDA is making the right  
4 decisions according to science and making the right  
5 decision according to its regulatory authority. But  
6 its articulation, that is, when you write your meeting  
7 minutes from an end of phase 2 meeting, or even a pre-  
8 IND meeting, you say here's what you need to do. That  
9 articulation of what is the quantum of evidence that  
10 you need doesn't comport with the experience that FDA  
11 has approved therapies since 1983 for rare diseases.  
12 One-third of the time it doesn't meet that standard.

13 So I have two examples, option 1 and  
14 option 2. I'm not going to read through them because  
15 they'll be in the record when I submit it to the  
16 comment. But I have different ways of expressing it  
17 that would capture -- this is option 1 and option 2 --  
18 that if this kind of language was adopted is  
19 boilerplate for these kind of communications to the  
20 rest of the stakeholders, I think it would  
21 significantly advance our ability to introduce people  
22 into the space, because we dearly need to have more

1 people involved in developing therapies for rare  
2 diseases. And it would give the FDA comfort that your  
3 statement of what you're requiring is consistent with  
4 your practice.

5 Thank you.

6 KEITH FLANAGAN: Thank you. Dr.  
7 Chambers?

8 WILEY CHAMBERS: So you talked  
9 about the distinction between rare diseases and I  
10 guess non-rare diseases, but not about using endpoints  
11 that are subjective in rare diseases. Can you expand  
12 on how you would control bias if you have a  
13 subjective endpoint?

14 FRANK SASINOWSKI: Yeah.  
15 Subjective endpoint, I'll take, Dr. Chambers, that  
16 what you're talking about is instead of an objective,  
17 you look at how many lines on a chart. You know,  
18 that's an objective. Subjective would be, you know,  
19 the kind of thing like a patient's global impression  
20 of severity. You know, how bad was your disease at  
21 the baseline, and then six months later, how bad?  
22 That's subjective.

1 WILEY CHAMBERS: Actually, how well  
2 you see, even counting lines, is subjective.

3 FRANK SASINOWSKI: Oh, yeah.

4 WILEY CHAMBERS: And can be clearly  
5 influenced if you know what you're on.

6 FRANK SASINOWSKI: Yeah. Well, and  
7 six minute walk, although it appears to be objective,  
8 is certainly effort-dependent. So, there's  
9 subjectivity, even in some of those. I don't know,  
10 when you measure the speed of the electrical  
11 conductivity of nerve conduction, maybe that's pretty  
12 quantitative. But then what's the clinical  
13 meaningfulness?

14 So, your question, Dr. Chambers?

15 WILEY CHAMBERS: How do you control  
16 bias?

17 FRANK SASINOWSKI: Oh. Bias is  
18 everywhere. I mean, you know, we have bias, that's  
19 what we're dealing with. I mean, we have selection  
20 bias, we have disease bias. When I talk about  
21 patients as their own control, diseases change, you  
22 know, a person's thing. So, it's just comparing how

1 they were two years before the intervention and then  
2 two years after. Some of that might be due to the  
3 intervention, but some of it might be because their  
4 lives have changed and the disease, you know, has  
5 changed.

6 So bias is a tricky thing, and that's  
7 why I think a focus on the science of small trials  
8 within having a person who's really devoted time to  
9 think about these very daunting questions, very real  
10 questions, would be important to have within each  
11 division.

12 WILEY CHAMBERS: Thank you very  
13 much for your presentation today.

14 FRANK SASINOWSKI: Okay.

15 FREDERICK DEROSIER: Hi. I'm Fred  
16 Derosier. I'm here from Covance and our parent  
17 company, LabCorp. And I'm going to be following on to  
18 our last two presenters and present a tool or a  
19 technique that we've been exploring to understand  
20 patients a bit better, using an application of real  
21 world evidence. We think this has particular  
22 application in rare disease, but it certainly has, I



1 think, broader application outside of that particular  
2 arena.

3 And in following along to what has been  
4 said previously, natural history is a critical  
5 component to understanding patients, and again,  
6 particularly within rare diseases. However, the  
7 reality is, in rare diseases, that in many cases  
8 natural history is incomplete, or in some instances,  
9 not even available.

10 So the question then becomes, how can  
11 we go about generating evidence that we can leverage  
12 to understand the evolution of these patients and  
13 their diseases, and how can we apply that to medical  
14 research, to clinical trials, and drug development.

15 At LabCorp, we are privileged to have a  
16 database which is really the largest of its kind in  
17 the world. It now comprises well over 30 billion test  
18 results, comprising more than 5,000 assays that's been  
19 accumulated over decades now. And we have literally  
20 roughly half of the U.S. population that's covered  
21 within this particular dataset.

22 To define the technique that we'd like

1 to use to create these longitudinal datasets out of  
2 this real world evidence, we start with the LabCorp  
3 data as a foundation. And what we do is we define a  
4 population of interest on the basis of laboratory  
5 testing and/or ICD codes and some combination thereof.  
6 And that gives us our starting point.

7 This database is something that once  
8 the individual is identified, we go back and we pull  
9 in all of the historic records from that point to the  
10 past that we have, and then from that point forward to  
11 the future.

12 The database is updated on a near real-  
13 time basis, and so it can be followed prospectively,  
14 once a population of interest has been identified.

15 It is also possible to pull in data  
16 from outside sources and supplement the LabCorp  
17 dataset, and these can include pharmacy data, payer  
18 data from insurers, institutions, registries, clinical  
19 trials, so forth and so on. Ultimately, that final  
20 dataset is created, it's anonymized, and then we can  
21 go ahead and take a look at it and begin to try to  
22 understand the population of interest.

1           This is a Spotfire snapshot of one of  
2           the results. This is information on an individual  
3           with transthyretin amyloidosis. This is a single  
4           genetic mutation here. It's a Val 122 isoleucine  
5           mutation, which is common in people of African  
6           descent. And what you're seeing in the middle box is  
7           the compilation of all of the different laboratory  
8           tests has had, and how they've changed over time.

9           The top bar shows you color-coded  
10          boxes, which represent the different ICD codes that  
11          were Present at the time these tests were ordered on  
12          the individual. Now, these ICD codes are particularly  
13          interesting because they give us insight as to what  
14          the condition of the patient was at the time that the  
15          diagnostic testing occurred. So it gives us insight  
16          into what the position is seeing and what the  
17          physician is thinking in terms of their patient.

18          Furthermore, we can see how things  
19          evolve over time. And if you look here, you can see  
20          that there's an off a lot of activity going on with  
21          this individual, lots of different ICD codes. As well  
22          as down below, you can see a corresponding level of

1 activity when it comes to the changes in laboratory  
2 testing.

3 There was also some activity a bit  
4 earlier, a couple years earlier, in this individual.  
5 But clearly, there's an event that's occurring for  
6 this particular person.

7 Now, we can go and examine the testing  
8 in these events in more detail. And in particular  
9 case, there was a phase 3 study that was conducted a  
10 few years back. And in that particular trial,  
11 unexpectedly a number of patients began to develop  
12 renal failure.

13 So, in this particular dataset, which  
14 was derived from a cardiomyopathy panel that included  
15 the TTR gene, we polled those individuals. We had 853  
16 individuals that tested positive for mutation of the  
17 gene. And we asked the question, how many of them had  
18 problems with renal function? Was there a specific  
19 mutation that was associated with this particular  
20 problem, as an example of what can be done with this  
21 type of dataset.

22 In addition, if you'll see in the third

1 box from the top, this is EGFR here. This is  
2 examining the patient's renal function. And you can  
3 see that this individual certainly had a problem with  
4 their kidney function. And the box, or the bar down  
5 below, shows this blown up to get a better view of the  
6 timescale.

7 So here, roughly, we can see that at  
8 the end of 2012, beginning of 2013, this individual  
9 had normal renal function, and it declined over a  
10 period of time. Roughly, about a year later, this  
11 person had about half of their renal function present,  
12 and within a couple of years, clearly was someone who  
13 needed to be on dialysis or considering a transplant.

14 So now we have an example of how we can  
15 understand how a problem may evolve, its relative  
16 degree of severity, the time course and so forth, over  
17 which a problem may evolve.

18 Across the very top of the screen,  
19 you'll see different colored boxes. There's a blue  
20 box, which indicates the time point at which this  
21 person received their definitive diagnosis from  
22 genetic testing. So that's when TTR amyloidosis was

1 definitively identified in this particular individual.

2           What we did here as part of this  
3 exercise was to review all of the ICD codes associated  
4 with this dataset and assign them a color coding based  
5 upon their known association with the disease. So red  
6 indicated diagnostic codes or diagnoses that were very  
7 strongly, or highly associated, with this disease,  
8 such as cardiomyopathy.

9           The yellow represents diagnoses that  
10 are probably associated to green, possibly. And then  
11 those that were felt not to be associated with the  
12 disease were not given a color code.

13           What you can see is at the time that  
14 the genetic testing occurred, that this person had a  
15 number of ICD codes which were possibly and probably  
16 associated with this disease, and may potentially have  
17 been the things that allowed this person to then get  
18 the genetic testing for the definitive diagnosis.

19           Also, and perhaps not unexpectedly, you  
20 can see that there are number of ICD codes that then  
21 follow that genetic testing that indicate possible or  
22 probable association with TTR amyloid.

1                   However, we can understand the patient  
2                   journey a little better here by looking a little bit  
3                   further. About three and half years earlier, there  
4                   are two red boxes, which indicate diagnostic codes  
5                   that are strongly associated with this disease. And  
6                   we have to ask the question, was this potentially a  
7                   signal that was missed by the physician. And  
8                   consistent with what we see across rare diseases,  
9                   there's often a lengthy time from symptom appearance  
10                  until diagnosis. So, this becomes a mean of exploring  
11                  practice patterns and how people are diagnosed at some  
12                  certain degree.

13                  We can take and then apply this same  
14                  thing in terms of looking at associations between  
15                  genotype and phenotype. And you can see this  
16                  particular individual's gene in the second column with  
17                  the colored bars, and you'll see midway through, heart  
18                  failure is one of the most common associations. And  
19                  this gene is known to be pathogenic for cardiac  
20                  disease.

21                  However, immediately next to it, there  
22                  is a genetic variant, which is currently classified as

1 benign or as a variant of unknown significance. But  
2 yet when we look at the associated ICD codes and  
3 comorbidities represented there, we can see that  
4 roughly 40 percent of the people with this disease  
5 have an ICD code that indicates hereditary neuropathy,  
6 which is one of the other common symptoms for this  
7 disease.

8           And so, we have to ask ourselves the  
9 question then, does this type of dataset provide  
10 supplemental information that we should be potentially  
11 considering when we talk about the pathogenicity of  
12 particular genetic variants.

13           We can use this this point to fine-tune  
14 our protocols. We took and applied that Phase 3 study  
15 that I was referring to earlier and the inclusion-  
16 exclusion criteria to this dataset, and we can see how  
17 the inclusion-exclusion criteria filter down the  
18 population and reduce it by almost a quarter in this  
19 instance. So this is a way that we can model  
20 protocols, and we can also use this to assign  
21 geography to patient populations in clusters to help  
22 us plan where to put investigational sites.



1           This is a topic that's been touched  
2 upon by other speakers. It's a presentation in and of  
3 itself. And I'll say that, again, I think this  
4 information could be used to support synthetic  
5 controls in similar.

6           And so lastly, I'm just going to  
7 conclude that we think this real world evidence is  
8 something that is a tool that could be applied much  
9 more extensively to great benefit in medical research.  
10 It's unbiased by trial selection. It can leverage  
11 multiple sources. It can be compiled very rapidly and  
12 does not require years to acquire patients and  
13 information. We can use it to hypothesis test  
14 protocol model and potentially characterize other  
15 things about this population or any population.

16           And with that, I'll stop, in the  
17 interest of time. Thank you.

18           JIM SMITH: Thank you. I guess I  
19 would ask what do you see the greatest advantage is of  
20 using the types of data you're describing in drug  
21 development currently, and what are the biggest  
22 limitations and barriers to doing so at present?

1                   FREDERICK DEROSIER: Well, I think  
2 looking back at various programs that I've been  
3 involved with over time, I think one of the biggest  
4 thing is the things that you don't know about the  
5 population. Again, particularly in rare diseases,  
6 there are a lot of unknown unknowns, if you will.

7                   If you developed a type of dataset like  
8 this prior to going to first time in humans, or at the  
9 early stages of your clinical program, this gives you  
10 a means of surveying the patient population. If the  
11 folks conducting that Phase 3 transthyretin amyloid  
12 study had known that there was actually a propensity  
13 to develop renal failure and associated with specific  
14 genotypes, this in turn might have influenced the way  
15 they conducted the trial.

16                   So these are things that we can do. We  
17 can, again, using the example of modeling protocols,  
18 we can adjust our inclusion-exclusion parameters in a  
19 very logical data-driven manner so that we can  
20 accommodate more individuals safely into the clinical  
21 trials. It's a means of also accelerating and  
22 removing time in many instances from the development

1 program. So I think there are a tremendous number of  
2 applications, frankly, that could be part of this type  
3 of data work.

4 JIM SMITH: Thank you very much.

5 FREDERICK DEROSIER: Thank  
6 you.

7 JIM SMITH: I appreciate your  
8 presentation today.

9 LUCY VERESHCHAGINA: Good  
10 afternoon, everyone. I'm Lucy Vereshchagina, Vice  
11 President of Science and Regulatory Advocacy of the  
12 Pharmaceutical Research and Manufacturers of America,  
13 or PhRMA.

14 PhRMA represents the countries leading  
15 in a way to biopharmaceutical research companies which  
16 are devoted to discovering and developing medicine  
17 that enable patients to live longer, healthier, and  
18 more productive lives.

19 Since 2000, PhRMA member countries have  
20 invested more than \$900 billion in the research for  
21 new treatments and cures, including an estimated \$79.6  
22 billion in 2018 alone.

1                   So our comments today will echo  
2                   comments made by many speakers earlier today,  
3                   including those made by PhRMA member companies. PhRMA  
4                   and our member companies strongest support of these  
5                   ongoing efforts to facilitate effective drug  
6                   development by leveraging a rapidly evolving  
7                   scientific and technological advances, including the  
8                   important initiative to modernize the new drug  
9                   regulatory program.

10                   We're thankful to FDA for convening  
11                   this meeting to provide the clinical and scientific  
12                   leaders of the Office of New Drugs suggestions on  
13                   where the Agency can provide regulatory clarity and  
14                   consistency to promote innovative and effective drug  
15                   development across multiple therapeutic areas.

16                   PhRMA strongly supports a vision of a  
17                   future new drug regulatory program paradigm that is  
18                   optimized for early identification and the resolution  
19                   of key issues, promoting efficiencies and  
20                   effectiveness in drug development, and allows for  
21                   highly productive and timely interactions between FDA  
22                   and sponsors doing drug development. PhRMA believes

1 the tangible steps taken by FDA will help ensure  
2 greater predictability and consistency in the review  
3 of new drug applications and supplements.

4 PhRMA believes that the OND  
5 organization, including the establishment of the  
6 centralized Office of New Drug Policy Review will  
7 enhance the efficient (indiscernible) ways to review  
8 of new drugs and biologics, as well as prepare FDA for  
9 receiving and assessing emerging and future types of  
10 therapies.

11 Consistency and predictability across  
12 disease areas and between review centers and divisions  
13 is imperative to promote efficient drug development  
14 and timely access to known therapies, including those  
15 for mathematical needs.

16 PhRMA offers the full recommendation to  
17 help continue to build on the efforts already  
18 underway. And I would like to know that PhRMA will  
19 provide more detailed comments to the docket.

20 Many speakers before me today commented  
21 on the importance of interactions and communications  
22 between sponsors and FDA. And PhRMA applauds FDA for

1 its efforts to enhance timely communication between  
2 the Agency and sponsors during development of certain  
3 emergent technologies.

4 PhRMA recommends that the FDA apply  
5 these enhanced communication practices with emerging  
6 technologies more broadly, providing timelier and  
7 clearer guidance on regulatory expectations to further  
8 expedite drug development.

9 In general, improved interaction  
10 between FDA and sponsors would facilitate more  
11 iterative and timely feedback during drug development,  
12 and PhRMA believes that an informed iterative  
13 approach, rather than multiple rounds of meetings and  
14 feedbacks on critical regulatory elements, would help  
15 to better inform sponsoring of their decision-making  
16 on innovative development programs.

17 While certain therapeutic areas  
18 divisions have a broader experience with innovative  
19 approaches and are thus more willing to accept such  
20 innovative approaches, such as rare diseases and  
21 oncology, for example, there is a need to promote drug  
22 development in non-rare diseases and chronic diseases.

1 FDA should address variation of  
2 feedback from review staff and in consistent  
3 approaches to areas such as assignment of expedited  
4 pathways, review of supplemental indications that are  
5 reviewed across divisions, acceptance of extrapolation  
6 pediatrics, acceptance of external controls in  
7 clinical trials. As noted by many speakers before me,  
8 acceptance of innovative drug development tools and  
9 (indiscernible) points across deviations.

10 PhRMA believes that the FDA  
11 (indiscernible) pilots on model-informed drug  
12 development and complex innovated designs will  
13 further advance the consistency and predictability  
14 around the use of these tools in regulatory decision-  
15 making.

16 Importantly, PhRMA recommends that FDA  
17 develop and share best practices based on learning  
18 from those pilots. And in addition, again, as we have  
19 heard from many speakers today, PhRMA recommends that  
20 the FDA provide additional regulatory clarity on  
21 acceptability of (indiscernible) data sources,  
22 simulation and analysis, (indiscernible) clinical

1 trial designs, and (indiscernible) statistical  
2 (indiscernible), including (indiscernible) analytical  
3 tools.

4 Consistent input from review division  
5 and timely discussions between FDA and sponsors around  
6 post-marketing requirements and post-marketing  
7 commitments will help ensure that this (indiscernible)  
8 are consistently imposed, that they are feasible and  
9 scientifically justified.

10 Combination products and use of digital  
11 technologies are another area where increased  
12 consistency and additional clarity from the Agency  
13 would help to create efficiency and promote  
14 innovation. In this we see a proactive policy  
15 opportunity for cooperation within (indiscernible) and  
16 across FDA centers, to leverage expertise and help  
17 ensure timely access to new therapies for patients.

18 In conclusion, PhRMA would like to  
19 thank FDA for bringing all stakeholders together  
20 today, and we look forward to continuing working with  
21 the Agency as it continues to implement  
22 reorganization of the Office of New Drugs, and



1 encourage continuing efforts to drive more efficient  
2 and effective development of innovative drugs and  
3 biologics.

4 JIM SMITH: Thank you very much.  
5 Questions? I suppose I'll ask one. You stressed the  
6 desire for consistency, which we've heard a lot today.  
7 We've also heard the theme of flexibility. Do you  
8 have any thoughts -- sometimes those could be at odds  
9 with each other, right? If we're driving a consistent  
10 approach across divisions, they could be perceived as  
11 being inflexible to remain consistent. Do you have  
12 any thoughts about marrying those concepts from a  
13 policy perspective?

14 LUCY VERESHCHAGINA: I think from  
15 our point of view, it definitely goes back to  
16 consistent application of guidances across divisions.  
17 And PhRMA always advocates for flexible regulatory  
18 approaches. But guidances are helpful and important,  
19 so as long as you apply them consistently across  
20 divisions, I don't think that this concept is mutually  
21 exclusive.

22 JIM SMITH: Thank you. Okay.

1 Thank you for your presentation.

2 MARTIN ROESSNER: Good afternoon.

3 I'm Martin Roessner. My background is in  
4 biostatistics. I worked for many years in the  
5 pharmaceutical industry, and meanwhile, I worked for  
6 about almost 10 years now in a CRO. Worked for many  
7 companies, and I want to share a couple of things with  
8 you to what I experience in terms of design. So I  
9 will focus a little bit more on design aspects.

10 But before we go there, I want to also  
11 share with you some thoughts on innovative approaches.  
12 Parexel has commissioned a research and a survey which  
13 tried to understand how innovations were used in  
14 clinical trials. This was done in cooperation with  
15 the Economist Intelligence Unit, and the methodology  
16 and report is available publicly.

17 The results were quite interesting.  
18 You can see four major innovations were looked at:  
19 adaptive trial designs, precision medicine -- so,  
20 genetics, biomarkers -- patient centricity, and last,  
21 not least, real world data.

22 You can see that from the impact, I

1 think it's not surprising, over a total of 24,000  
2 studies were looked at in the timeframe of 2012 to  
3 2017. The impact looks understandable, that if you  
4 use these innovations, you get faster enrollment.  
5 You'll have a better chance of getting the drugs to  
6 patients, so that's the likelihood of launch.

7 But depressingly, you can see the  
8 innovations were really in almost less than five  
9 percent applied in these trials. These were Phase 2,  
10 3 trials. So, what's the cause of that? And we heard  
11 already today a little bit about the diversity of  
12 data, fragmented data, where do you find data. And a  
13 lot of institutions have their own source of data, and  
14 we all have some thoughts what the bias is of those  
15 data.

16 Small inadequate workforces, not  
17 knowing what we are doing, is another reason.  
18 Negative perceptions -- and I will come to a very  
19 concrete example of that -- and also cultural  
20 barriers. They all prevent us from using innovations.

21 I will say adaptive trial designs are  
22 around for about 30 years. In 2015, we had a

1 publication talking about 25 years of adaptive trial  
2 designs. And still today we talk about that this is  
3 innovation. When do we start to implement that? If  
4 it's not implementable, then maybe it's not really an  
5 innovation. But I'm convinced it is. We should use  
6 it and we should apply it.

7 I will talk about a couple of very  
8 concrete examples. I used the oncology as an example.  
9 We have rule-based, we have model-based designs, and  
10 it's very clear that, obviously, model-based designs  
11 are much better than rule-based. So we save time, the  
12 patients, but we detect the same rate of the same rate  
13 of DLTs there.

14 So my question is, why do I still see  
15 by consulting with many biotech companies today, maybe  
16 70, 80 percent using a 3+3 design? We have a very  
17 new paper out there, which talks about an 3+3 design  
18 from this year. Why not use that, or use a model-  
19 based design? A consideration could be to reject an  
20 IND, which still proposes to do a 3 design.

21 We talk about seamless Phase 1, Phase 2  
22 studies already in oncology. If the mechanism is

1 clear of a compound, we can use a dose escalation  
2 design and then really go seamlessly into a dose  
3 expansion study. And if we have a hypothesis that  
4 this drug can work in several tumor types, because the  
5 mechanism is there, we can do a basket design type and  
6 evaluate the compound for several different tumor  
7 types.

8 Now, this type of design, I would  
9 argue, you can replace the melanoma, the non-small  
10 cell lung cancer, head and neck, and gastric, with  
11 maybe other therapeutic area indications, you could  
12 include, for example, rheumatoid arthritis, psoriasis,  
13 Crohn's disease. If you look back, drugs which were  
14 approved in these indications, it took probably eight  
15 to 10 years to get through all these indications. If  
16 you do it this way, I think you get a much better idea  
17 early on where the drug works and what could be used.

18 Although we don't want to talk about  
19 real world data, it comes up every time. I want to  
20 use this opportunity not to talk too much about the  
21 real-world data, but the methodology of it.

22 So here we have a single arm study in

1 potentially a rare disease. And I would ask, why do  
2 we limit that to rare diseases? I think it's equally  
3 challenging to think about a mega trial where we have  
4 ten or twenty thousand patients and need a control for  
5 that and have to treat ten or twenty thousand patients  
6 with a control, which we know is potentially inferior  
7 than what we are doing. So, similar application  
8 should be allowed.

9                   But the question is, if we do the  
10 natural history, the real world data collection, how  
11 close do these patients need to match? That is a  
12 question which I think is not decided. We heard today  
13 about AI and developing twin patients. So, how  
14 (indiscernible) does that patient need to be?

15                   I still think the randomized clinical  
16 trial has some variation, some differences between the  
17 control arm and the test arm, even it's randomized.  
18 But in this setting, we still have the requirement to  
19 say how close does that control arm have to match the  
20 actual treatment arm?

21                   So that is something I would say is  
22 probably an opportunity to bring statisticians and

1 regulators together to discuss that and find a way to  
2 really address the uncertainty which we have in that  
3 space.

4 So summarizing that, I would say we  
5 should apply the innovations that they don't stay  
6 innovations but become reality in daily practice.  
7 Very concrete. I believe we can use some of the newer  
8 designs developed in oncology to apply them also in  
9 non-oncology diseases.

10 And last, not least, the acceptability  
11 of the methodology we use for real world data  
12 (indiscernible) control arms needs to be defined and  
13 agreed upon. Thank you.

14 JIM SMITH: Thank you. Dr. Beaver?

15 JULIA BEAVER: Thank you.

16 Regarding the adaptive trial designs for dose finding,  
17 do you think the reluctance from the companies you've  
18 spoken with to adopt those versus the 3+3 comes from  
19 their challenges with implementation, or from a  
20 perception that FDA -- rather a misperception that FDA  
21 will view those negatively? Because that's, you know,  
22 of course, something we could correct, where the other

1 is not.

2 JIM SMITH: Thank you.

3 MARTIN ROESSNER: I think it's more  
4 the perception of operational implementation. People  
5 have a concern, but some of them -- nobody wants to  
6 give up control and give it to a statistician to do a  
7 CRM, a continuous reassessment methodology, where you  
8 select the next dose. It's more the implementation, I  
9 believe, than the operational transparency, you see  
10 how to do that.

11 But some of these methods are very  
12 simple. They are transparent. You can really develop  
13 that in the beginning. Lay it out, how it's done, and  
14 use it.

15 JIM SMITH: I'd like to ask about  
16 the seamless trial design that you noted as there's  
17 been the most experience within oncology, but that  
18 you're advocating that it could potentially be used in  
19 non-oncology settings as well.

20 Are there certain types of either  
21 therapeutic areas or disease entities that you think  
22 that it might be particularly suited? Because I could



1        imagine that that design might not be appropriate  
2        everywhere. But have you given some thoughts to where  
3        it might be more or less useful in other areas outside  
4        oncology?

5                    MARTIN ROESSNER: Yeah. As an  
6        example, what I mentioned, the autoimmune diseases are  
7        probably a good example. In my view, it depends  
8        primarily on the mode of action of that compound,  
9        whether you can address. And we may see more and more  
10       opportunities there when we go on with genetic testing  
11       and development of biomarkers, which are applicable to  
12       several different indications.

13                   JIM SMITH: Thank you. Okay.  
14       Thank you for your presentation.

15                   JAMES VALENTINE: Good afternoon. My  
16       name is James Valentine, and I'm from Hyman, Phelps  
17       and McNamara, where I work with both regulated  
18       industry, but also patient advocacy organizations on  
19       navigating issues related to new drug and biologic  
20       development.

21                   My work crosses therapeutic areas, so  
22       I've had the pleasure to work with almost every one of

1 your offices and divisions, both from the sponsor  
2 perspective, as well as from the patient stakeholder  
3 perspective.

4 So, I appreciate this opportunity to  
5 share with you some of my thoughts, and I actually  
6 have four opportunities that I would like to share  
7 with you today.

8 First, as we heard from a number of our  
9 industry colleagues, the policies and practices of a  
10 review division, however informal, have considerable  
11 potential to influence industry interest in drug  
12 development in a therapeutic area.

13 We've heard from companies both large  
14 and small that are constantly reevaluating their  
15 pipelines, based off of the regulatory requirements  
16 that exist, and perhaps more importantly, how certain  
17 they are in how those requirements will be applied  
18 within that particular therapeutic area. This informs  
19 risk assessments of embarking on and continuing  
20 product development in one area over another, even.

21 So now we have an opportunity. Now we  
22 have more alignment of therapeutic areas, both at the

1 office and division level within OND, as part of the  
2 reorganization. And I think here we have an  
3 opportunity where office and division directors can  
4 engage further in thought leadership. This could be  
5 through purposeful participation in scientific and  
6 medical workshops, not only speaking, but also  
7 participating in the dialogue of emerging approaches.

8 This form of podium policy can allow  
9 the researchers, developers and other stakeholders  
10 within a disease community to feel supported by FDA  
11 and get insights into the Agency's the current  
12 thinking.

13 Of course, both general, such as across  
14 all rare diseases as well as specific disease area  
15 drug development guidances are effective at this.  
16 It's helpful for the divisions to engage with external  
17 stakeholders as a feedback group to help inform them  
18 in their understanding of the current science and  
19 medicine, as well as inform the development of  
20 guidance. This was something that, as an example, the  
21 Division of Neurology Products did in modifying and  
22 updating its draft guidance for ALS. So, commend

1 Neurology for that activity.

2           So this thought leadership by office  
3 and division directors will not only benefit  
4 individual programs, but has the potential to attract  
5 high quality and innovative drug development in  
6 therapeutic areas that will fall under the regulatory  
7 purview of these thought leaders.

8           Next, I have a new ideas that relate to  
9 the changing landscape of patient advocacy, and maybe  
10 patient advocacy in a way that is novel from what you  
11 might be thinking.

12           Patient organizations have moved beyond  
13 just providing public awareness and patient support,  
14 or even from just supporting basic science research.  
15 Patient organizations have recognized that they need  
16 to help translate advances in their understanding of  
17 the basic biology of their disorders in order to help  
18 further de-risk product development.

19           And this is particularly true in rare  
20 diseases, where patient organizations are often the  
21 largest funders of research in their disease, and they  
22 are the ones developing the network of interested

1 academic and clinical experts to help focus on  
2 clinical research and care.

3 In this new era, patient organizations  
4 are also taking on activities that would have  
5 traditionally been the purview of academic or industry  
6 sponsors. These are activities that they are  
7 constantly hearing from Dr. Woodcock and other CDER  
8 officials that they should be embarking on. Things  
9 like establishing research-enabling registries,  
10 running and funding natural history studies, and  
11 developing biomarkers and clinical outcome  
12 assessments.

13 However, current guidance seems to be  
14 written for a more experienced industry stakeholder.  
15 For example, in the guidance on natural history for  
16 rare disorders -- and we've heard this brought up a  
17 few times -- in this guidance, it speaks to the  
18 utility of collecting this information, but could  
19 provide more practical guidance for patient advocates  
20 on how to go about doing this. What are the  
21 approaches to design and collection that can really  
22 maximize the utility of natural history information?

1 Patient communities would love the  
2 opportunity to build non-proprietary platforms and  
3 tools, but without additional guidance, they will  
4 continue to default to supporting individual academic  
5 situations or companies in their more silent efforts.

6 Beyond guidance, there is a need for  
7 greater opportunities for patient organizations to  
8 engage in meetings to advance these more technical  
9 activities, which these go beyond the existing  
10 opportunities that exist at the Agency. So while  
11 industry can request a pre-IND meeting, or meetings  
12 under their INDs to get feedback from review  
13 divisions, there is no corollary for patient  
14 organizations. This contrasts with the great pathways  
15 that have been established throughout all of FDA for  
16 patient organizations to share patient experience,  
17 things like listening sessions and PFDD meetings.

18 So it has been my experience working  
19 with patient organizations that they are treated  
20 inconsistently when engaging on these more technical  
21 matters. Sometimes divisions are willing to grant a  
22 meeting. Other times these groups are passed around

1 between different program offices, whether that be the  
2 Critical Path Innovation meeting office, or one of the  
3 qualification program offices, only to find out that  
4 the specific questions that they had don't fit neatly  
5 into one of those programs.

6 So this, to me, appears to be a result  
7 of a lack of an internal and external facing policy on  
8 how to accommodate the emergence of this new type of  
9 stakeholder interaction.

10 Unfortunately, this stymies groups'  
11 abilities to take on these critical activities, as  
12 they're not able to meet timelines that they've set  
13 out in grant or funding requests to do them. And they  
14 can't provide assurance to academic and industry  
15 partners that they will even be able to get Agency  
16 input as they've proposed.

17 So I would encourage OND offices  
18 and divisions to consider expanding existing  
19 successful programs like the listening sections that  
20 provide a gateway into the Agency, but expand them to  
21 allow for patient organizations to have these  
22 conversations that are more technical in nature.

1                   Finally, and still on the topic of  
2                   patient engagement, there has been huge progress of  
3                   incorporation of patient experiences and preferences  
4                   into review. New drug approvals now include a  
5                   statement of what patient experience data were  
6                   available to review teams. We saw some of the outputs  
7                   of an analysis of that earlier. And there's great  
8                   experience and guidance for methods on eliciting  
9                   patient input, such as the series of PFDD guidances  
10                  that are coming out.

11                  However, one area that's missing from  
12                  all of this is guidance or good review practices on  
13                  how review team should be utilizing this input. I  
14                  have worked with dozens of patient organizations in  
15                  putting together listening sessions. I've helped  
16                  organize two-thirds of the externally-led PFDD  
17                  meetings to date. And one thing that I can't help  
18                  point these patient organizations to is anything that  
19                  helps describe exactly when and how review staff will  
20                  utilize this input.

21                  I certainly have lots of great examples  
22                  to share with them from my personal experience. One



1 being the issuance of the Epidermolysis Bullosa draft  
2 guidance just two months after that externally-led  
3 PFDD meeting. However, guidance for reviewers on how  
4 to assess and utilize this new type of information  
5 could help maximize impact.

6 And as I started out at the beginning  
7 of my presentation, talking about being thought  
8 leaders, it will also help signal to the outside world  
9 that PFDD activities are worth investing in.

10 So just to summarize, I want to commend  
11 you all on this discussion today, as well as for the  
12 flattening and therapeutic focusing that's occurred  
13 with the OND reorganization. I hope that office and  
14 division directors will take this opportunity to  
15 engage further in thought leadership, particularly  
16 with greater engagement with external stakeholders at  
17 meetings and workshops.

18 I also ask that OND consider ways to  
19 update its existing policy and engagement frameworks  
20 to keep pace with the emergence of patient  
21 organizations as stakeholders that are taking on  
22 traditional drug development activity, but in a non-

1 competitive, non-product-specific way. Thank you.

2 JIM SMITH: Thank you. Dr. Lemery?

3 STEVEN LEMERY: When you --  
4 specifically for patient advocacy groups, you know,  
5 frequently more and more we're having the patient  
6 needs involve more than just the drugs. It involves  
7 maybe a device for either treatment or diagnosis and  
8 may involve cellular therapies. I think in oncology,  
9 you know, we have the OCE. Maybe perhaps mechanisms  
10 to sort of involve all of them.

11 But as far as -- what would you say to  
12 both FDA and advocates when the issues that are  
13 important to them really are cross-cutting across  
14 multiple centers within the Agency?

15 JAMES VALENTINE: Yeah, I would  
16 absolutely agree with that sentiment that, you know,  
17 patients, patient advocates, patient communities are  
18 interested in all of the different medical product  
19 areas that FDA regulates. And a lot of their  
20 activities would involve and include engagement with  
21 not just the Office of New Drugs, but perhaps the  
22 Office of Tissues and Advanced Therapies in

1        CBER, some of the different review groups  
2        in CDRH.

3                    And that was why one of my thoughts of  
4        maybe low-hanging fruit for a way to allow patient  
5        groups that might want to discuss some of these  
6        technical areas, you know, natural history study they  
7        might be building, it would be useful across all of  
8        those medical product areas. It would be to utilize  
9        something like the listening sessions program, which  
10       is an Agency-wide program, to allow for getting some  
11       technical advice across the different centers.

12                   JIM SMITH: Thank you very much for  
13       your presentation today.

14                   JAMES VALENTINE: Mm hmm.

15                   JIM SMITH: Appreciate you being  
16       here today.

17                   CARTIER ESHAM: All right, next to  
18       the last. We're almost done, and I know we're  
19       overtime, so I'm going to try to be as efficient as  
20       possible.

21                   So, I'm Cartier Esham. I am the  
22       Executive Vice President of Emerging Companies and

1 Senior Vice President of Science and Regulatory  
2 Affairs at BIO. For those that are not familiar with  
3 BIO, we are a policy and advocacy organization that  
4 represents the entire ecosystem of biotechnology  
5 companies, including those that don't yet have a  
6 product on the market, all up to the multinational  
7 companies.

8 So one, I just want to take a moment to  
9 really thank all of you in this room for taking the  
10 time to have this meeting today. I think just looking  
11 around the table, it's a true reflection of the  
12 commitment the Agency has to advanced shared learning,  
13 shared understandings amongst you all, as well as with  
14 stakeholders. So, again, really want to reflect our  
15 appreciation for that.

16 So along those lines, I think one of  
17 the things that -- we would like to see more of this.  
18 So I think in terms of looking about how to approach  
19 guidance on a more regular basis and opportunities to  
20 use stakeholders to maybe help identify areas of  
21 guidance where updating is needed, or where new  
22 guidance might be needed, we perhaps would propose a

1 similar approach to what CDRH does in terms of having  
2 an annual engagement, where we actually identify those  
3 types of issues.

4 I would say that for the rest of my  
5 presentation, I would view this as our initial outline  
6 as to what we're going to be developing in far more  
7 detail and submitting to the docket in January. So  
8 we're also open to if there are areas that are not  
9 presented in this outline that you would like to see  
10 details on, we would certainly like to hear that as  
11 well.

12 So again, words you'll see in these  
13 slides, clarity and coordination and consistency, I  
14 think we all agree that those are somewhat limiting  
15 into what we are actually talking about, and as was  
16 raised earlier, some would appear to be in conflict,  
17 but we don't actually think that they are.

18 But in terms of new guidance that we  
19 think would benefit new guidance development include  
20 those areas on digital technologies, and hopefully  
21 with an effort that is coordinated across review  
22 divisions and centers to provide information about how

1 digital technologies can be utilized for siteless  
2 trials, digital endpoints, combination products that  
3 contain the digital component, and other such things.

4 In addition, we think guidance around  
5 use of alternative preclinical tools and non-animal  
6 methods would be quite beneficial. We know this is  
7 something that the Agency encourages, but guidance  
8 that provides specific criteria and evidence  
9 requirements for regulatory acceptance of new approach  
10 methodologies would be quite beneficial.

11 We also think it's very important that  
12 as soon as possible, we're able to see a final  
13 guidance on PREA about how to comply with the new  
14 pediatric oncology requirements. We understand  
15 there's probably very legitimate reasons, and  
16 understandable reasons, why that's been delayed. But  
17 it is our hope that that is published as soon as  
18 possible.

19 And likewise, we hope to see new  
20 guidance to replace the 2014 guidance that was  
21 withdrawn on Analgesics.

22 Continuing on to (indiscernible) in

1 pediatric, where we think some updated guidance would  
2 be quite beneficial. The 1999 guidance on BPCA: we do  
3 think that finalizing compliance with that would be  
4 helpful. Updating the 1977 Guidance on Clinical  
5 Evaluation of Drugs in Infants and Children:  
6 specifically looking to update content on terminology,  
7 such as "school-aged children", "special problems",  
8 and the addition of references to other pediatric  
9 guidance would be very helpful in this area.

10 The 1998 Guidance on Clinical Evidence  
11 of Effective for Human Drug and Biological Products:  
12 we think this could benefit updating that reflects  
13 current thinking on use of external controls,  
14 optimizing retrospective natural history, studies  
15 real-world evidence, patient focused drug development,  
16 and the totality of evidence. Understanding that  
17 these pieces, these additional elements are reflected  
18 elsewhere in specialized guidance, we still think it  
19 might be beneficial to review that guidance.

20 Looking at ways in which we  
21 stakeholders can further engage and better engage with  
22 the FDA in utilizing public opportunities to discuss

1 areas of evolving science and emerging approaches, we  
2 think we could all do a better job on that. And  
3 specifically, we think areas and discussions around  
4 evolving methods by statisticians to make benefit risk  
5 decisions, and perhaps use of artificial intelligence,  
6 sort of top the list of that engagement.

7 And I do think, you know, we often talk  
8 here and all of us have probably at one time or  
9 another participated in multiple public private  
10 partnerships between industry and the Agency,  
11 stakeholders, academia and others, but I think we  
12 could all probably do a better job in sort of  
13 (indiscernible) the conversation about where are we in  
14 our understanding of emerging science and  
15 technologies. And then use that to help us focus and  
16 clarify how to develop and implement a public private  
17 partnership with purpose, that hopefully walks us  
18 towards a greater understanding in terms of  
19 specificity and guidance.

20 We also would be very interested to  
21 work with FDA, as well as NIH, to try to better  
22 understand how the two organizations are working



1 together and tackling things like how we translate our  
2 understanding of basic science discoveries, and how  
3 that impacts regulatory approaches.

4 I think there's a lot of great work.  
5 An example there might be HEAL Initiative. I think  
6 that's a great -- there's a lot of exciting things  
7 happening in that space, and we certainly would look  
8 to continue improving upon that.

9 In terms of question 2, one thing we  
10 did want to highlight in things outside of non-  
11 targeted medicines, we did want to highlight that  
12 Highly Prevalent Chronic Diseases, we continue to see  
13 a lot of challenges in that space, particularly in the  
14 level of investment, and something that has a lot of  
15 factors. Some of that is science. Some of that is  
16 the reimbursement.

17 But the third leg of the stool, the  
18 regulatory approval pathway, we do think could benefit  
19 from concentrated thinking about how improvements to  
20 PMC/PMR, acceptance of innovative clinical trial  
21 designs, utilization of real-world evidence, novel  
22 endpoints, and digital technologies, including the

1 ability to conduct the siteless trials, would be  
2 highly beneficial.

3 I'm just going to highlight that we've  
4 had very productive conversations with the FDA on PMC,  
5 on post-market commitment and requirement reforms that  
6 are basically focused on having engagement earlier,  
7 and continuous. So, pre-submission of application,  
8 during application, and then ensuring that once the  
9 commitments are in place that they are reviewed to  
10 make sure that they still reflect current science and  
11 understanding and realities.

12 I have one minute left, so basically,  
13 I'm going to say yes, innovative clinical trial  
14 designs are highly beneficial for a lot of reasons  
15 that are in your packet. And we do think that  
16 specific guidance listed here on data integrity and  
17 evidence for decision-making, adequate interventional  
18 control arms, and adequate safety and monitoring are  
19 right for specific guidance development activities.

20 In terms of, again, the great question  
21 about consistency, I think today is a tremendous  
22 reflection on that. We do think that there's still a

1 lot of work to understand how to approach different  
2 review divisions and understanding rules of engagement  
3 to enable utilization of innovative clinic trial  
4 designs and novel endpoints. I think it's still  
5 something that many of our companies are struggling  
6 with. And again, we believe that's a two-way street.

7 And we also want to make sure that we  
8 kind of think through, are we really doing our best in  
9 talking about lessons learned with mandated pilot  
10 programs, as well as innovative approaches being  
11 tested within the Agency. Is there a way that we  
12 could better understand externally what those  
13 learnings are, what actions are going to be taken, and  
14 what, if any, actions are not going to be taken, why?  
15 And so, we can continue to try to be more helpful in  
16 advancing those type of activities for the ultimate  
17 advancement of regulatory science and application.

18 So, with that, again, I have 27  
19 seconds. So, my team is going to be very disappointed  
20 that I didn't use all of the slides. But again, I  
21 think that today is a great example about how we can  
22 best work together and share learnings. We look

1 forward to providing much more detailed responses to  
2 the docket.

3           We know that under the OND  
4 reorganization efforts, a lot of the things that are  
5 highlighted in the slides that were submitted for the  
6 record are being undertaken right now. And so we do  
7 want to make sure we understand that. We're very  
8 appreciative of that, and we just want to try to  
9 determine how we can best support those activities  
10 that are creating shared learning, shared  
11 understandings within the Agency, across divisions,  
12 across centers, as well as with stakeholders.

13           So again, thank you for the  
14 opportunity, and we look forward to working with you  
15 in the coming months as we develop more specific  
16 recommendations and suggestions.

17           JIM SMITH: Thank you. Go ahead,  
18 Dr. Joffe.

19           HYLTON JOFFE: A theme that's come  
20 up a few times is this inconsistency across the  
21 divisions, which is another area that's somewhat fuzzy  
22 to me in terms of where exactly we're being

1 inconsistent, given differences in disease areas. So,  
2 I would encourage folks in the written document, in  
3 the public document, to the extent possible, to  
4 provide as much details as you can publicly.

5 And also, I was wondering if when a  
6 sponsor feels a division is being inconsistent, do  
7 they bring it up to the division in real time and say,  
8 hey, you know, Division B just told us something else.  
9 So, I'd like more details on these inconsistencies we  
10 keep hearing about.

11 CARTIER ESHAM: Yes. And we agree,  
12 more detail is -- we will be providing more detail on  
13 that to provide some additional clarity on what we  
14 mean by flexible consistency.

15 HYLTON JOFFE: And I encourage that  
16 not just for you, but for all the speakers who are  
17 here, and even folks who aren't here. I hope people  
18 do that.

19 JIM SMITH: Thank you for making  
20 that comment, Hylton, because that is one of the  
21 things that we are very much looking forward to and  
22 trying to get after with this meeting. I'll just ask

1 a question, and if it's beyond the scope of thoughts  
2 that you'd like to give, that's totally fine.

3 You flew by, for reasons that are  
4 totally understandable, innovative clinical trial  
5 designs, and just said you support them. But I think  
6 you might be one of the only ones who paired it with  
7 highly prevalent chronic disease. We often hear them  
8 encouraged in other areas of rare disease.

9 So do you have ideas in mind of  
10 particular types of innovative designs that would be  
11 particularly useful for highly prevalent chronic  
12 diseases?

13 CARTIER ESHAM: We are working on  
14 that, and that will be submitted as part of the  
15 record, some more specific examples on types of  
16 designs we think would be applicable to many of the  
17 highly prevalent diseases.

18 JIM SMITH: Thank you. Appreciate  
19 your presentation.

20 CARTIER ESHAM: Thank you.

21 LIZA O'DOWD: Good afternoon. My  
22 name is Liza O'Dowd. I'm from Janssen, which is part

1 of Johnson & Johnson. In the spirit of getting you  
2 out of here, because I see the energy fading, I'm  
3 going to try to be consistent and align with many of  
4 the comments we've heard today and say that we  
5 recognize and support many of the things that were  
6 raised. But I'm also going to try to be flexible and  
7 highlight things in response to some of your comments  
8 to give a little bit more granularity to some of the  
9 thoughts that we have.

10 As a company such as Janssen, we have  
11 the opportunity to work across multiple disease areas  
12 because we have products across many of the  
13 therapeutic areas. But being part of J&J, we also  
14 have the opportunity to talk to our colleagues and  
15 work with our colleagues who work in the device groups  
16 as well as the consumer groups. So as such, we are  
17 able to see some things across all parts of the FDA  
18 and try to share those learnings and help us  
19 understand the inconsistencies or consistencies and  
20 opportunities in a little bit of a different way.

21 One topic that we haven't touched much  
22 on today has been the topic of combination products.

1 And here, I'm specifically talking about drug device  
2 combination, but in the future I'm sure it will be  
3 drug -- digital solutions, et cetera.

4 We do see, to the question that was  
5 raised earlier, variable approaches to how, for  
6 example, the different divisions may look at risk  
7 management for some of our products. And we find that  
8 a little bit challenging at times to best predict what  
9 sorts of data in test may be necessary to satisfy the  
10 Agency's concern around residual risks with the use of  
11 the devices.

12 We specifically find this in the area  
13 of human factors studies, where there may be different  
14 assessments around critical task identification,  
15 assessment of residual risk. And we would like to  
16 understand a little bit more deeply from the FDA's  
17 perspective why we may see those differences, based on  
18 what we understand around good risk management  
19 principles.

20 We also find that there is a little bit  
21 of a stylistic difference as to when that engagement  
22 may happen in terms of the feedback. When we get



1 feedback from the human factors group of the FDA,  
2 sometimes it comes early in design, but sometimes it  
3 comes quite late in the review cycle. And that  
4 creates a little bit of a challenge (indiscernible)  
5 for both for us in generating new data, but also for  
6 the FDA in having to assimilate that data late in the  
7 review cycle so we don't delay access of medicines for  
8 patients.

9 There was a lot of conversation earlier  
10 around the opportunity for informal communications.  
11 And for our perspective, we like to highlight in this  
12 case what we are talking about when we say informal  
13 communications is actually the opportunity to provide  
14 clarification.

15 Sometimes we find, particularly on the  
16 device side, that the FDA may not be sure what we are  
17 talking about when we refer to something. And at the  
18 same time, we may not be sure what the FDA is asking  
19 for. And oftentimes we spent a lot of time stressing  
20 about Type C meetings and setting this up, and we go  
21 120 days down the road, and it turns out we really  
22 just didn't understand something quite simple. It

1 might be that, oh, we didn't realize that that study  
2 report you were looking for was actually submitted as  
3 part of a 510k sponsor's submission, and it was  
4 already there all the time.

5 So, very simple things. So,  
6 clarification as opposed to perhaps giving us detailed  
7 advice on development programs. So if there was a  
8 mechanization to do that that was rational, we would  
9 be most welcome to that.

10 We spent a lot of time today talking  
11 about perhaps some inconsistencies in applying  
12 statistical approaches. We may suggest that we see  
13 this like Andrew does. Perhaps he does a little bit  
14 more formally. It's (indiscernible) with the tools.  
15 We do kind of track the kind of advice we get because  
16 we like to learn from each interaction that we have  
17 with the Agency and see how we can apply best  
18 practices to the next development program that comes  
19 on.

20 So, I would see that we see some  
21 variation from example and preferences for how we  
22 might control Type 1 error and secondary endpoints,

1 as an example. We may see difference acceptance in  
2 thinking about adaptive designs. There may be a  
3 couple of places where consistently when we submit an  
4 adaptive design, we say, that's great, but maybe you  
5 should've thought about a group sequential design  
6 instead. And we think that we see that kind of  
7 consistently. We'd like to understand why that might  
8 be.

9 We also note, as others have, that  
10 there is some variability in accepting pediatric  
11 extrapolation, even when we, to the best of our  
12 understanding, believe that the disease is similar  
13 between kids and adults in the MOA for the drugs that  
14 we are (indiscernible) should have the same effect in  
15 both children and adults. So, we'd like to understand  
16 a little bit more why we see those differences.

17 And finally, there is variation in the  
18 tolerance for Bayesian statistics as well. So, for  
19 us, we accept that there is going to be variation, but  
20 we would like to understand more clearly whether or  
21 not these variations are really due to true scientific  
22 and statistical reasons, or rather it's due to

1 preferences for people who may have a strong sort of  
2 rooting in Bayesian statistics or (indiscernible) for  
3 example. And if it's the latter, then we think there  
4 might be opportunity for better cross-training and  
5 sharing across the statistical (indiscernible) of the  
6 FDA to perhaps at least share a little bit of the  
7 thinking, and maybe help some of these approaches  
8 become a little bit more acceptable.

9           We heard a lot about modelling and  
10 simulation today. I'd like to put a postulate out  
11 there as more futuristic thinking, that there may be  
12 opportunity for us to use all the data that exists in  
13 the world, along with deep data collection early in  
14 clinical trials for particular subpopulations of  
15 interest, to perhaps streamline drug development for  
16 chronic diseases. If we could understand a little  
17 bit more deeply around particular subpopulations,  
18 perhaps we would not have to include the subsets in  
19 large clinical trials for cardiovascular disease, for  
20 example. Perhaps we could accept that we might not  
21 have huge numbers of patients, but still can find ways  
22 to appropriately label it. We'll give you our comments

1 about this in our written comments, but we think  
2 there's opportunities to apply the totality of data  
3 science and modelling and simulation to more chronic  
4 diseases, rather than perhaps the rare diseases that  
5 we were focusing more on today.

6 And then finally, I'd like just to  
7 conclude with a couple of observations. We heard a  
8 little earlier today that there was a time when the  
9 HIV epidemic hit, and we found ways to move drug  
10 development forward in a quick way.

11 We at Johnson & Johnson, particularly  
12 going through recently trying to come up with the  
13 Ebola vaccine and our experiences with, obviously, the  
14 oncology area, we see what happens when everyone is  
15 united toward a common goal and a sense of urgency of  
16 trying to make a difference for patients who have  
17 unmet need.

18 Those success stories are really based  
19 in a different way of working. It's iterative, it's  
20 collaborative, it's mutual problem-solving, it's  
21 enhanced communication. And we like to believe that  
22 if we apply some of those best practices that we can

1 glean from those experiences to thinking about chronic  
2 disease, we may be able to move the ball a little bit  
3 forward toward improving drug development.

4 So, with that, I'd like to thank  
5 everyone for their patience. Long day. But I wanted  
6 to thank the FDA for the opportunity to hear from us  
7 and for us to make the comments.

8 JIM SMITH: Thank you very much.  
9 Dr. Marzella?

10 LOUIS MARZELLA: Yes. I wonder if  
11 you could elaborate more on the issue of human factor  
12 studies. These are particularly important in the  
13 context of imaging drugs. And so we encourage  
14 submission of actual protocols and we comment on the  
15 actual protocols before they are carried out. So I  
16 wonder if you could comment, and what are the issues  
17 that you are experiencing with the process?

18 LIZA O'DOWD: So we do acknowledge  
19 that there is that opportunity to submitted get  
20 comments back on the human factor protocols, and we do  
21 appreciate that greatly. What we see is some  
22 differences, is you know, when we get back the initial

1 results and we are deciding on how to further that, we  
2 see differences in interpretation of the balance of  
3 the assessment of probability of risk occurrence,  
4 along with severity of potential harm.

5 So, some of the divisions seem a little  
6 bit more balanced in taking the two into account,  
7 where others perhaps are more concerned with the  
8 possibility of harm. We want that fully elucidated  
9 down, even though the possibility of occurrence is  
10 quite low. So, that's the main one.

11 And then we also find that the timing  
12 of feedback sometimes doesn't come quite as early in  
13 that cycle that we would like, so it can come quite  
14 late.

15 JIM SMITH: Thank you. Dr.  
16 Chambers?

17 WILEY CHAMBERS: So, sometimes  
18 differences in things like how combinations are  
19 handled are because of devices and regulations. The  
20 (indiscernible) products, for example, are treated --  
21 have a regulation that exempts them from a number of  
22 the combinations. Is there reluctance in asking why

1 it's being treated, or do you not get answers if you  
2 ask?

3 LIZA O'DOWD: It is a little bit of  
4 reluctance. There's a little bit of who's on first  
5 procedurally. So, sometimes were getting guidance,  
6 for example, in one meeting from the division, and  
7 sometimes the advice is obviously coming from the  
8 device reviewers. And how we get there sometimes is a  
9 little bit awkward. So, we don't perceive a  
10 consistent way to engage in the process. You know,  
11 sometimes we think it's just faster to go to the human  
12 factors expert and say, what were you asking, could we  
13 clarify that? But it ends up being a little bit more  
14 complicated than getting that clarity of response.

15 We're very happy to ask questions.  
16 It's just the process to get there is sometimes not  
17 always clear for us. We have some specifics. We'll  
18 be happy to outline those for you.

19 WILEY CHAMBERS: Thank you. Any  
20 other questions for the panel? Okay.

21 KEITH FLANAGAN: Thank you. This  
22 concludes today's presentations. Than you to all of



1 our presenters for providing your input today. OND's  
2 clinical leadership will take your comments, in addition  
3 to the comments submitted to the docket, under careful  
4 consideration. Thank you to everyone who attended  
5 today and to others who were watching remotely.

6 Thanks to all the division directors and their  
7 designees for taking a full day.

8 The docket will remain open through  
9 January 7th, 2020. The Federal Register Notice  
10 announcing this meeting has instructions for how to  
11 submit electronic comments. We will consider these  
12 electronic comments along with the views presented  
13 here today. It will take us some time to digest all  
14 the input we have received and will continue to  
15 receive through January 7th, but I can assure you we  
16 have been listening carefully and will leverage your  
17 insights wherever possible.

18 Thank you again for joining us here  
19 today. This meeting is adjourned.

20 (Whereupon, at 4:45 p.m., the  
21 proceeding was concluded.)

22

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

## CERTIFICATE OF NOTARY PUBLIC

I, MICHAEL FARKAS, the officer before whom the foregoing proceedings were taken, do hereby certify that any witness(es) in the foregoing proceedings, prior to testifying, were duly sworn; that the proceedings were recorded by me and thereafter reduced to typewriting by a qualified transcriptionist; that said digital audio recording of said proceedings are a true and accurate record to the best of my knowledge, skills, and ability; that I am neither counsel for, related to, nor employed by any of the parties to the action in which this was taken; and, further, that I am not a relative or employee of any counsel or attorney employed by the parties hereto, nor financially or otherwise interested in the outcome of this action.



MICHAEL FARKAS

Notary Public in and for the

STATE OF CALIFORNIA

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

## CERTIFICATE OF TRANSCRIBER

I, SONYA M. LEDANSKI HYDE, do hereby certify that this transcript was prepared from the digital audio recording of the foregoing proceeding, that said transcript is a true and accurate record of the proceedings to the best of my knowledge, skills, and ability; that I am neither counsel for, related to, nor employed by any of the parties to the action in which this was taken; and, further, that I am not a relative or employee of any counsel or attorney employed by the parties hereto, nor financially or otherwise interested in the outcome of this action.



SONYA M. LEDANSKI HYDE

<b>&amp;</b>	<b>16</b> 154:18 169:20	<b>25</b> 141:4 211:21	<b>500</b> 68:9
<b>&amp;</b> 1:1 136:16 303:1 309:11	<b>1977</b> 295:4	276:1	<b>505</b> 190:14
<b>0</b>	<b>1983</b> 253:11	<b>27</b> 176:20 299:18	<b>510k</b> 306:3
<b>0.2</b> 161:6	<b>1984</b> 210:11	<b>28</b> 6:17	<b>59</b> 91:19
<b>1</b>	<b>1989</b> 68:22	<b>3</b>	<b>6</b>
<b>1</b> 16:14,20 198:13 207:2,11,22 245:11 253:13,17 276:21 306:22	<b>1997</b> 251:18	<b>3</b> 53:7 165:13 190:14 201:6 243:12 260:9 264:14 266:11 275:10 276:16,16 276:17,17,20 279:18,18	<b>6</b> 133:1 <b>6,000</b> 169:11 <b>60</b> 229:22 <b>600</b> 57:10 68:12 <b>66</b> 170:10 <b>67</b> 172:15 <b>6th</b> 251:13
<b>1,269</b> 174:18	<b>1998</b> 252:5 295:10	<b>30</b> 104:18 154:5 158:11 236:2 257:17 275:22	<b>7</b>
<b>10</b> 97:15 119:7,14 170:10,13,23 171:12 174:9 215:9 243:13 247:19,19 274:6 277:15	<b>1999</b> 295:2	<b>300</b> 174:9	<b>7</b> 1:10 6:2 201:6 207:8
<b>10,000</b> 75:16	<b>1:15</b> 8:20	<b>300,000</b> 201:7	<b>70</b> 276:16
<b>100</b> 141:15 147:6 245:1	<b>1:30</b> 165:10,10	<b>3001</b> 222:20	<b>79.6</b> 267:21
<b>100,000</b> 161:6	<b>2</b>	<b>31</b> 1:14	<b>7th</b> 313:9,15
<b>10903</b> 1:15	<b>2</b> 90:19 91:4 198:13 204:15 207:11 224:6,18 245:11 253:7,14 253:17 275:9 276:21 297:9	<b>3453</b> 6:3 9:10	<b>8</b>
<b>10:00</b> 6:20	<b>2,000</b> 161:14	<b>35,000</b> 170:6	<b>8</b> 63:14
<b>11</b> 6:21 56:16	<b>2,300</b> 166:14	<b>36</b> 171:11	<b>80</b> 72:22 225:21 276:16
<b>110,000</b> 197:1	<b>20</b> 97:15 103:21 119:7 154:19,22 245:3 247:19	<b>36,000</b> 174:16	<b>853</b> 260:15
<b>11:07</b> 90:16	<b>200,000</b> 211:7,14	<b>366</b> 171:3	<b>86</b> 154:7
<b>12</b> 78:8 236:6	<b>2000</b> 267:19	<b>3661277</b> 1:18	<b>88</b> 33:16
<b>120</b> 171:17 197:4 200:6 305:21	<b>2006</b> 166:12	<b>387</b> 29:15	<b>9</b>
<b>12151</b> 315:14	<b>2012</b> 261:8 275:2	<b>3:20</b> 243:14	<b>900</b> 267:20
<b>122</b> 259:4	<b>2013</b> 219:2 261:8	<b>3tc</b> 171:3	<b>941</b> 166:14
<b>129</b> 170:7	<b>2014</b> 103:16 294:20	<b>4</b>	<b>9:04</b> 1:11
<b>12:15</b> 8:20	<b>2015</b> 275:22	<b>4</b> 211:8 213:1 217:8 243:15 244:1	<b>9th</b> 63:3
<b>12:42</b> 165:8	<b>2017</b> 211:22 213:21 214:1 275:3	<b>40</b> 72:22 104:18 139:19 174:8 264:4	<b>a</b>
<b>13394</b> 314:17	<b>2018</b> 222:18 224:7 225:17 267:22	<b>43</b> 57:3	<b>a.m.</b> 1:11
<b>14</b> 197:4	<b>2019</b> 1:10 6:3 9:10 241:22 249:2,13	<b>4:45</b> 313:20	<b>a1c</b> 151:17 197:16 198:1 199:2 200:4 202:2,4 206:11 207:8
<b>15</b> 24:17 68:2 90:15 200:6 247:20	<b>2020</b> 6:2 313:9	<b>5</b>	<b>aaron</b> 175:22 212:16
<b>150</b> 56:5	<b>20993</b> 1:16	<b>5</b> 200:9 247:20	<b>abacavir</b> 30:7
<b>1503</b> 1:14	<b>21st</b> 18:16 222:20	<b>5,000</b> 211:6 257:18	<b>abcs</b> 77:7
<b>154</b> 68:12	<b>23andme</b> 32:1	<b>50</b> 155:3 211:7,21 220:22 229:22	<b>abilities</b> 31:4 77:8 77:19 287:11
	<b>24,000</b> 197:1 275:1		

<p><b>ability</b> 27:10 77:20,21 197:9 219:15,16 234:7 253:21 298:1 314:10 315:7</p> <p><b>abl</b> 30:15</p> <p><b>able</b> 19:17 24:19 25:22 28:12 35:8 35:17 36:17,22 61:13 66:17 78:1 81:20 113:18 118:3 119:13,16 120:16 121:15 141:18 143:15 161:18 173:12 178:14 181:14 204:10,11 221:19 223:4 228:3 234:10,13 242:2 247:7 248:3 287:12,15 294:12 303:17 310:2</p> <p><b>absence</b> 111:4 210:15</p> <p><b>absolute</b> 219:10</p> <p><b>absolutely</b> 27:16 63:20 65:7 66:19 234:19 290:16</p> <p><b>absorption</b> 29:20</p> <p><b>abstracts</b> 177:4</p> <p><b>abysmally</b> 154:4</p> <p><b>academia</b> 68:3,5 68:10 296:11</p> <p><b>academic</b> 73:6 81:8 127:6 177:8 225:2 226:14 228:4 285:1,5 286:4 287:14</p> <p><b>academicians</b> 85:15</p> <p><b>academics</b> 134:18</p> <p><b>accelerate</b> 71:12</p> <p><b>accelerated</b> 57:21 144:4</p>	<p><b>accelerating</b> 19:7 60:10 70:21 266:21</p> <p><b>accept</b> 127:21 129:2 133:14 270:19 307:19 308:20</p> <p><b>acceptability</b> 112:21 271:21 279:10</p> <p><b>acceptable</b> 24:8,9 98:8 163:16 164:4 164:8 238:3 308:8</p> <p><b>acceptance</b> 163:21 196:1,5 271:5,6,8 294:9 297:20 307:1</p> <p><b>accepted</b> 19:3 46:17 64:18 160:8 164:7</p> <p><b>accepting</b> 19:3 113:8 157:18 201:20 202:1 307:10</p> <p><b>access</b> 18:2 40:6 45:12 60:1 65:14 66:15 117:5 138:18 144:11 163:3 178:16 184:8 186:8 188:6 195:13 198:22 216:15,16,22 227:8,19 232:12 233:3 237:3,13 269:14 272:17 305:7</p> <p><b>accessed</b> 6:4</p> <p><b>accessibility</b> 226:6 228:6 232:17</p> <p><b>accessible</b> 9:6 225:6 227:9</p> <p><b>accidental</b> 205:21</p> <p><b>accommodate</b> 266:20 287:8</p>	<p><b>account</b> 311:6</p> <p><b>accountable</b> 250:9</p> <p><b>accumulate</b> 111:21</p> <p><b>accumulated</b> 257:19</p> <p><b>accumulative</b> 34:9</p> <p><b>accuracy</b> 225:21</p> <p><b>accurate</b> 17:14 168:9 229:11 314:9 315:5</p> <p><b>achievable</b> 23:5</p> <p><b>achieve</b> 21:4 210:7</p> <p><b>achieved</b> 50:15 65:6 157:11</p> <p><b>achieving</b> 65:10</p> <p><b>acknowledge</b> 43:16 46:19 95:15 115:9 239:18 310:18</p> <p><b>acknowledged</b> 20:15 98:8</p> <p><b>acknowledging</b> 113:7</p> <p><b>acquire</b> 97:4 265:12</p> <p><b>acronym</b> 63:7</p> <p><b>act</b> 19:22 23:3 59:16 210:10,11 210:12</p> <p><b>acting</b> 12:20 13:11 14:10,14,19,21 15:1,5,10,14</p> <p><b>action</b> 166:2,18 167:5 168:15 171:8 173:17 174:4 281:8 314:12,16 315:8 315:12</p> <p><b>actionable</b> 5:10 10:14 84:17 200:7</p> <p><b>actions</b> 181:1 299:13,14</p>	<p><b>active</b> 30:1,3 227:15</p> <p><b>actively</b> 94:13 161:16</p> <p><b>activities</b> 49:12 107:14 115:2 285:4,6 286:9 287:11 289:9 290:20 298:19 299:16 300:9</p> <p><b>activity</b> 36:4,18,22 108:16 246:11 259:20 260:1,3 284:1 289:22</p> <p><b>acts</b> 179:1</p> <p><b>actual</b> 18:8 41:9 210:1 223:5 229:6 278:20 310:14,15</p> <p><b>acute</b> 15:6</p> <p><b>ada</b> 207:5,15</p> <p><b>adaptations</b> 135:11</p> <p><b>adapting</b> 107:6</p> <p><b>adaptive</b> 19:1 59:20 92:12,17 93:9 95:9 97:2 100:7 107:8 115:10 128:6 130:20 132:10 136:3 162:10 188:13 239:22 274:19 275:21 276:1 279:16 307:2,4</p> <p><b>add</b> 105:7 116:3 149:9 157:1 234:2</p> <p><b>addiction</b> 13:9</p> <p><b>addition</b> 44:5 57:7 70:10 120:2,18 143:4 202:2 260:22 271:18 294:4 295:8 313:2</p> <p><b>additional</b> 11:2,21 12:7,9 21:20</p>
---	---	---	---

22:15 27:1 60:20 65:15,20 94:22 96:19 103:19 120:6 121:9,10 138:22 185:12 193:8,10 271:20 272:12 286:3 295:17 301:13 <b>additive</b> 166:21 169:9 <b>address</b> 21:7 84:21 113:18 116:22 182:6 228:5 242:7 244:18 271:1 279:2 281:9 <b>addressed</b> 20:14 22:9 23:13,15 40:3 71:20 108:16 189:13 <b>addressing</b> 12:1 35:21 75:3 115:11 115:13 214:13 <b>adds</b> 228:21 <b>adequate</b> 43:6 45:5 298:17,18 <b>adequately</b> 98:1 <b>adjourn</b> 9:3 <b>adjourned</b> 313:19 <b>adjust</b> 266:18 <b>adjustment</b> 100:8 <b>administration</b> 1:1,12 <b>administrative</b> 217:2 <b>ado</b> 137:17 <b>adopt</b> 52:10 95:3 100:22 191:16,16 279:18 <b>adopted</b> 253:18 <b>adoption</b> 93:21 133:21 185:8 <b>adult</b> 86:13,16 148:9 183:4	<b>adults</b> 86:2 161:6 238:21 307:13,15 <b>advance</b> 5:21 28:18 67:10 103:3 104:3 112:18 198:5 227:12 228:13 253:21 271:13 286:8 <b>advanced</b> 29:10 43:1,4 46:7 50:16 51:9 53:1 75:19 76:6 185:19 290:22 292:12 <b>advancement</b> 45:17 106:1 113:6 299:17 <b>advances</b> 11:7,11 19:19 21:10 28:10 268:7 284:16 <b>advancing</b> 18:2 19:10 23:4 112:20 299:16 <b>advantage</b> 57:20 73:11 97:5 143:22 162:10 222:19 265:19 <b>advantages</b> 11:19 143:10 160:22 162:6 180:19 <b>advent</b> 59:2,12 <b>adverse</b> 29:18 177:18 183:12 192:18 <b>advice</b> 23:21 25:7 25:8,12,13,16,17 25:18,19 84:6 93:22 99:21 122:11,19 125:7 291:11 306:7,15 312:7 <b>advisor</b> 84:11 139:14 <b>advisory</b> 224:7	<b>advocacy</b> 114:19 235:1 267:11 281:18 284:9,10 290:4 292:3 <b>advocate</b> 61:21 65:3 <b>advocates</b> 81:7 85:3 195:2 203:14 273:17 285:19 290:12,17 <b>advocating</b> 126:7 193:2 280:18 <b>affairs</b> 55:15 62:12 102:18 292:2 <b>affect</b> 30:22 32:22 166:19 197:10 <b>affiliation</b> 8:16 16:17 67:17 74:12 91:1 165:17 243:19 <b>affordability</b> 210:4 <b>african</b> 259:5 <b>afternoon</b> 6:15 136:14 166:1,2 175:8 184:17 194:21 234:21 267:10 274:2 281:15 302:21 <b>age</b> 202:20 <b>aged</b> 295:7 <b>agencies</b> 12:8 35:7 85:14 195:14 196:2 <b>agency</b> 17:19 19:18 21:10 22:4 25:8,9,15,19 26:3 27:11 85:13 86:19 86:20 87:4 90:1 90:10,12 94:4,6 94:16 95:2,12 97:8 98:11,13,19 101:9,19 102:5	108:7 114:16 116:20 117:19 145:16 146:13 219:22 235:12 248:17 268:13 270:2 272:12,21 286:10 287:15,20 290:14 291:10 292:12 294:7 296:10 299:11 300:11 306:17 <b>agency's</b> 283:11 304:10 <b>agenda</b> 6:13 7:9 7:10,12 <b>agent</b> 121:3 <b>agents</b> 160:14,19 164:1 <b>aggregation</b> 40:9 <b>aggressively</b> 25:14 <b>aging</b> 103:7 <b>agnostic</b> 105:21 110:4 <b>ago</b> 68:2 73:2,9 77:1 78:16 79:1 83:21 104:18 158:9 229:18 <b>agp</b> 198:5 <b>agree</b> 108:14 110:9 145:21 185:12 203:7 218:14 290:16 293:14 301:11 <b>agreed</b> 43:13 46:16 279:13 <b>agreement</b> 44:15 53:8,20 <b>ahead</b> 7:11 176:17 232:20 237:15 258:21 300:17 <b>ai</b> 175:11,19 176:7 176:18,19 177:12 177:13,15 179:16 179:22 180:4,14
--	---	--	--

278:13	<b>alzheimer's</b>	<b>analytical</b> 272:2	<b>answer</b> 25:9 78:4
<b>aids</b> 85:2	103:10,13 178:11	<b>analyze</b> 152:7	113:21,22 119:18
<b>al</b> 225:17	178:13 179:6	221:19	125:3 126:15
<b>albumin</b> 159:14	181:11 182:4	<b>analyzed</b> 152:3	153:11,19 158:15
<b>albuminuria</b>	<b>amazing</b> 176:19	171:13	158:18 164:3
159:21 160:7	195:17 205:10	<b>anda</b> 225:19	172:7 193:15,18
<b>algorithms</b> 166:13	207:4,6	<b>andrew</b> 2:21 3:3,4	234:1
<b>align</b> 303:3	<b>ambiguity</b> 19:13	184:17,18 193:6	<b>answers</b> 123:22
<b>alignment</b> 116:11	<b>ambitious</b> 158:10	194:7 218:4,10	130:13 147:20
282:22	214:6	229:9 230:18	150:19 312:1
<b>aligns</b> 10:7	<b>ambulatory</b> 60:6	231:14,17 232:3	<b>antagonism</b> 167:1
<b>alleles</b> 33:12	<b>amenable</b> 11:14	233:19 234:19,21	169:10
<b>allergy</b> 13:4	<b>america</b> 218:12	241:1 242:7	<b>anti</b> 16:1 33:19
<b>alleviate</b> 181:2	267:12	306:13	207:4
<b>allotted</b> 6:18 8:3	<b>amgen</b> 32:1 91:5	<b>ands</b> 233:16	<b>antibodies</b> 33:20
<b>allow</b> 8:10 16:19	<b>amount</b> 27:5	<b>andy</b> 234:22	<b>anticancer</b> 170:9
45:17 64:1 91:3	164:13 204:1	<b>anecdotal</b> 228:22	<b>anticipate</b> 81:6
98:1 128:11	213:10	230:6	219:15 221:7,20
158:22 160:9,14	<b>amounts</b> 31:5	<b>anecdote</b> 220:9	226:3,4 231:8
160:21 162:13,16	<b>amplifying</b> 231:20	<b>anesthesiology</b>	<b>anticipated</b> 32:11
163:3,5,10 165:3	<b>amyloid</b> 262:22	13:9	<b>antisense</b> 70:2
165:18 182:16	266:11	<b>angelo</b> 3:18 15:4,4	<b>antivirals</b> 15:21
189:13 243:20	<b>amyloidosis</b> 42:22	205:4	<b>anybody</b> 26:3,7
283:8 287:21	259:3 261:22	<b>animal</b> 42:12	178:16
291:4,10	<b>amyotrophic</b>	49:22 166:6	<b>anymore</b> 164:20
<b>allowed</b> 160:11	57:15	168:11 170:20,22	<b>apologies</b> 63:7
262:17 278:8	<b>analgesics</b> 294:21	294:5	<b>appeal</b> 36:14
<b>allowing</b> 23:15	<b>analyses</b> 92:18	<b>animals</b> 186:9	<b>appealing</b> 109:7
45:8 79:13 80:19	156:11 239:10	<b>ann</b> 4:3 15:2,2	<b>appear</b> 293:16
162:10 244:5	<b>analysis</b> 66:6,9	135:17	<b>appearance</b>
<b>allows</b> 162:11	74:5 79:6 98:16	<b>annotated</b> 39:18	159:10 263:9
268:20	130:20,22 131:1	<b>announce</b> 8:13	<b>appeared</b> 85:20
<b>ally</b> 18:2	142:21 150:9,10	16:15 90:20	<b>appears</b> 8:16
<b>alpha</b> 152:19	151:10 152:16	165:14 243:16	16:17 75:2 91:1
153:10 156:12	154:6 155:13,16	<b>announcing</b>	165:17 243:19
157:8,11 171:10	155:20,22 156:10	313:10	255:7 287:6
<b>als</b> 59:15 60:20	157:5 159:22	<b>annual</b> 68:11	<b>applaud</b> 120:12
283:22	166:5 169:22	171:20 251:14	<b>applauds</b> 269:22
<b>alternative</b> 246:20	199:7 222:9 223:9	293:2	<b>apple</b> 6:22
294:5	223:15 224:21	<b>annually</b> 196:22	<b>applicability</b>
<b>alternatives</b> 98:9	225:12 229:2	201:8 232:1	242:9
239:17	239:14 271:22	<b>anonymized</b>	<b>applicable</b> 47:2
<b>altogether</b> 203:11	288:7	258:20	106:4 132:15
			169:15 281:11

302:16 <b>application</b> 35:10 40:11 46:22 47:5 124:5 129:22 157:18 166:13 179:11 192:19 223:5 225:19 256:20,22 257:1 273:16 278:7 298:7,8 299:17 <b>applications</b> 28:5 34:17 118:4,4 134:1 180:8 234:11 235:20 238:6,7 267:2 269:3 <b>applied</b> 105:18 150:18 182:7 236:10 264:14 265:8 275:9 282:17 <b>apply</b> 35:5 37:8 38:4 45:3 52:4 85:7 89:9 104:2 134:1 148:4 156:19 174:2 176:7,17 194:8 257:13 263:13 270:4 273:19 276:6 279:5,8 306:17 309:2,22 <b>applying</b> 181:4 236:15 306:11 <b>appreciate</b> 50:12 55:12 136:13 184:15 194:19 197:6 218:3 267:7 282:4 291:15 302:18 310:21 <b>appreciated</b> 203:13 235:6 <b>appreciation</b> 175:1 195:20 292:15	<b>appreciative</b> 300:8 <b>approach</b> 8:15 16:16 20:22 24:4 38:10 41:15 43:9 43:13 52:5,6,11 52:17,22 54:5 66:4,8,17 72:11 83:16 90:21 96:17 97:4 98:18 100:10 101:20 109:3,6 110:4,21 112:14 113:4,18 126:10 134:2 141:19 152:10,15 153:1,7 155:5,7,11,12 156:2,5,21 157:3 164:9 165:15 173:16 179:16 183:7 186:4 188:20,22 192:9 194:1 220:10,12 221:3 222:4,13 231:5 237:11,12 237:15 243:18 245:13 270:13 273:10 292:18 293:1 294:9 299:1 <b>approaches</b> 11:21 12:9 19:11 20:17 21:8 22:4 28:12 35:7 40:22 41:19 59:2,4,10 74:15 100:17,22 105:13 105:19 111:3 133:15 162:14 176:2,4 177:6 179:16,17 181:5 191:6 193:3 229:1 270:19,20 271:3 273:18 274:11 283:7 285:21 296:1 297:3 299:10 304:5	306:12 308:7 <b>approaching</b> 20:1 <b>appropriate</b> 21:2 26:8,17,18 47:4 60:22 62:22 66:13 102:9 118:17 176:16 179:21 187:2 200:18 239:15 240:6 281:1 <b>appropriately</b> 121:13 187:17 203:21 242:14 253:2 308:22 <b>appropriateness</b> 20:5 <b>approval</b> 10:2 39:14 56:14 57:21 74:19 75:14 99:14 105:21 107:15,15 124:17 143:1 144:5 241:5 297:18 <b>approvals</b> 105:18 222:19,22 240:20 288:4 <b>approve</b> 76:8 225:4 252:15,22 <b>approved</b> 56:19 69:21 70:4 75:16 75:18,19,22 86:3 91:19 171:2 177:11 196:12,13 205:12 206:6 224:5 234:11 248:19 253:11 277:14 <b>approves</b> 201:19 253:2 <b>approximate</b> 7:10 <b>apps</b> 141:7 <b>april</b> 67:12 <b>archaic</b> 58:17	<b>area</b> 37:18 50:16 63:22 84:21 91:20 97:7 102:21,22 103:6,22 104:19 106:10 107:13 110:1,11,15 111:12,20 117:6 118:19 127:19 142:17 144:15 147:12 175:14 185:13 188:1,5 206:20,20 213:16 213:19 219:12 225:9 227:18 238:18 240:5 241:7 245:17 272:11 277:11 282:12,18,20 283:14 288:11 295:9 300:21 304:12 309:14 <b>areas</b> 10:17 11:11 11:21 12:7 52:15 86:2,2,10 87:22 91:14,17 92:3 93:17 106:4,10 107:18 109:2 110:12 115:1 126:11 127:16,18 129:12 132:16,22 160:15 176:7,18 182:8 185:16,17 186:3,17,19,22 191:9 194:3 213:5 214:20 225:14 239:11 242:20 244:22 249:20 268:15 269:12 270:17 271:3 280:21 281:3,21 282:22 284:6 290:19 291:6,8 292:20 293:8,20 296:1,3 301:1
---	--	---	---



<p>302:8 303:11,13  <b>arena</b> 257:2  <b>arenas</b> 88:9  <b>aren't</b> 9:8  <b>argue</b> 277:9  <b>arm</b> 115:14  132:18 161:1  178:21,22 184:12  245:8,9 246:13,15  247:15,22 248:4,6  248:7 277:22  278:17,17,19,20  <b>armed</b> 121:3  <b>arms</b> 65:20 116:9  161:10 177:22  178:8 239:9  279:12 298:18  <b>army</b> 71:8  <b>arnold</b> 7:5  <b>arranged</b> 24:20  <b>arrays</b> 41:17  <b>arrival</b> 66:15,16  <b>arrived</b> 94:5  <b>art</b> 66:22 75:12  76:20 80:4 82:16  133:19  <b>art's</b> 77:12  <b>arthritis</b> 277:12  <b>arthur</b> 2:9  <b>article</b> 166:11,12  <b>articulate</b> 48:17  244:15  <b>articulated</b> 189:11  251:19  <b>articulation</b> 85:9  252:2,13,21 253:6  253:9  <b>artificial</b> 175:10  296:5  <b>aside</b> 184:20  <b>asked</b> 103:18  195:15 198:2  215:14 260:17</p>	<p><b>asking</b> 52:13,16  94:9 120:9 121:18  174:7 199:18  235:11 305:18  311:22 312:12  <b>aspect</b> 198:12  <b>aspects</b> 53:2  125:19 137:19  182:1 274:9  <b>aspire</b> 243:13  <b>assays</b> 257:18  <b>assess</b> 20:18 41:10  98:1 193:14  223:16 247:7  289:4  <b>assessing</b> 79:12  101:1 117:10  269:9  <b>assessment</b> 42:12  102:9 202:1  304:15 311:3  <b>assessments</b> 64:1  282:19 285:12  304:14  <b>assign</b> 262:4  264:20  <b>assigned</b> 183:21  183:22  <b>assignment</b> 271:3  <b>assimilate</b> 305:6  <b>associate</b> 13:3  14:1 250:13  <b>associated</b> 30:5,6  30:20 31:12 38:19  39:4 62:11 186:10  260:19 262:3,7,10  262:11,16 263:5  264:2 266:13  <b>association</b> 29:8  29:13 55:15,18  262:5,22  <b>associations</b>  263:14,18</p>	<p><b>assume</b> 22:1 102:1  <b>assuming</b> 93:5  154:9  <b>assumption</b> 152:4  152:6  <b>assurance</b> 287:14  <b>assurances</b> 145:13  <b>assure</b> 313:15  <b>attached</b> 150:8  <b>attempt</b> 249:22  <b>attended</b> 313:4  <b>attendees</b> 68:12  73:1  <b>attending</b> 66:21  <b>attention</b> 135:15  218:18 228:17  242:5  <b>attorney</b> 314:14  315:10  <b>attract</b> 284:4  <b>audio</b> 80:21 314:8  315:4  <b>augment</b> 128:1  247:21  <b>author</b> 212:16  <b>authored</b> 226:14  <b>authorities</b> 17:11  96:10,16  <b>authority</b> 217:18  233:20 253:5  <b>authors</b> 214:10  <b>autism</b> 89:9  <b>autoimmune</b>  281:6  <b>automation</b>  171:23  <b>autonomous</b>  176:21  <b>availability</b> 44:1  65:2 67:14 142:19  <b>available</b> 7:5,8  9:22 31:7,10 33:5  33:6 42:21 57:2  66:14 105:14</p>	<p>119:12 121:2  182:9 187:14  193:9 204:5,8  210:13 217:6  221:1 232:6  238:11 243:10  257:9 274:16  288:6  <b>avenue</b> 1:15  <b>average</b> 93:1,11  200:13 215:18  <b>averages</b> 197:16  197:17 209:4,6  <b>avoid</b> 23:1 200:19  200:20 203:10  214:19 240:22  <b>avoidance</b> 186:9  <b>avoided</b> 144:2  <b>avoiding</b> 144:6  <b>awarded</b> 209:16  <b>awards</b> 68:15  209:21 210:8  211:13 212:2  <b>aware</b> 59:14 74:17  148:2  <b>awareness</b> 236:18  284:13  <b>awkward</b> 312:9  <b>azt</b> 171:3,4,10</p>
			<b>b</b>
			<p><b>b</b> 30:6 172:10,11  190:14 301:8  <b>back</b> 12:15 22:22  53:7 84:9,20  106:16 107:21  109:22 140:19  150:20 153:3  175:20 217:18  220:4 232:7 258:8  260:10 266:2  273:15 277:13  310:20,22  <b>backdrop</b> 76:21</p>

<p><b>background</b> 68:1 75:21 274:3</p> <p><b>backlog</b> 22:8</p> <p><b>backwards</b> 246:2</p> <p><b>bad</b> 209:1 254:20 254:21</p> <p><b>badge</b> 20:20</p> <p><b>balance</b> 50:8,13 50:15 117:3 311:2</p> <p><b>balanced</b> 311:6</p> <p><b>ball</b> 22:21 310:2</p> <p><b>baqsimi</b> 205:12</p> <p><b>bar</b> 259:9 261:4</p> <p><b>barrier</b> 165:2</p> <p><b>barriers</b> 206:14 265:22 275:20</p> <p><b>bars</b> 263:17</p> <p><b>base</b> 105:20</p> <p><b>based</b> 68:3 70:4,5 74:5 75:16 76:9 92:2 113:1 114:20 115:1 116:3 136:17 142:18 159:22 166:3 171:8 173:9 175:18 179:22 180:4,7,15 191:18 192:11 222:5 224:12 229:3 239:9 262:4 271:17 276:9,9,10 276:11,19 282:15 304:17 309:18</p> <p><b>baseline</b> 44:8 54:1 54:11 140:13 154:1 254:21</p> <p><b>basic</b> 140:6 173:2 173:17 174:5 179:8 284:14,17 297:2</p> <p><b>basically</b> 69:1 140:4 173:4 217:14 298:6,12</p>	<p><b>basis</b> 37:6 43:22 95:22 124:5 134:17 144:2 159:10 197:18 234:8 236:1 238:5 258:4,13 292:19</p> <p><b>basket</b> 18:22 106:8 159:7 239:2 277:5</p> <p><b>bastings</b> 3:20 14:22 15:1 26:21 26:22 52:2,3,16 66:3,4 111:1,2 147:21 148:17,18</p> <p><b>batten</b> 71:17 77:2 78:12</p> <p><b>bayesian</b> 95:9 107:8 130:20,22 131:1 162:13 307:18 308:2</p> <p><b>bayh</b> 210:10</p> <p><b>bcr</b> 30:15</p> <p><b>beat</b> 136:22 139:13</p> <p><b>beaver</b> 3:15 15:17 15:17 125:5,6 279:14,15</p> <p><b>beck</b> 199:8</p> <p><b>becoming</b> 70:15</p> <p><b>began</b> 72:5 79:18 260:11</p> <p><b>begets</b> 201:5</p> <p><b>beginning</b> 17:12 52:7 81:15 82:6 111:18 138:3 213:9 261:8 280:13 289:6</p> <p><b>begins</b> 19:12 21:4</p> <p><b>begun</b> 119:1 121:20</p> <p><b>behalf</b> 42:13 184:22</p> <p><b>belen</b> 4:1 14:13,13</p>	<p><b>believe</b> 21:1 47:11 59:8 75:18 89:4 91:10 93:13 95:20 97:13,21 98:8,13 108:22 164:16 187:2 188:3 189:6 189:20 191:18 201:21 227:13 228:12,15 233:16 279:7 280:9 299:6 307:12 309:21</p> <p><b>believes</b> 268:22 269:4 270:12 271:10</p> <p><b>beloved</b> 200:7</p> <p><b>bend</b> 200:14</p> <p><b>beneficial</b> 45:13 100:20 120:4 188:2 294:6,10 295:2,19 298:2,14</p> <p><b>benefit</b> 10:1 32:19 37:16 44:21 45:2 45:11,20 51:5,7 51:11 66:1 72:14 73:15 74:1,5 75:6 79:6 85:20 105:3 117:11 118:2 121:11 125:14,18 129:21 131:2 185:12 189:7 191:11 197:12 227:20 235:17 238:2,11 240:1 265:9 284:3 293:19 295:12 296:4 297:18</p> <p><b>benefits</b> 33:3,7 38:20 43:12 121:22 122:3 129:20 131:20 132:1 133:22 134:14 175:15 186:7 210:12,16 236:8</p>	<p><b>benefitted</b> 204:14</p> <p><b>benign</b> 264:1</p> <p><b>bergenstal</b> 199:8</p> <p><b>best</b> 9:22 18:11 23:1 25:11 56:11 65:9 90:8 119:12 120:17 139:16 142:5 144:9 150:13 152:7 155:2,8 230:9 246:8,9 271:17 299:8,22 300:9 304:8 306:17 307:11 309:22 314:10 315:6</p> <p><b>bethesda</b> 67:12</p> <p><b>better</b> 18:12 21:21 22:10,15 27:15 62:2 63:9 135:13 141:13 153:11,20 154:12 172:9,11 195:22 196:7,9,11 199:6 201:13,15 202:5 204:4 205:6 215:18 226:12 234:6 236:22 256:20 261:5 263:2 270:15 275:5 276:11 277:16 295:21 296:2,12,21 299:12 308:4</p> <p><b>beverage</b> 7:1</p> <p><b>beyond</b> 45:9 51:8 67:10 115:16 132:1 220:9 225:11 249:4 284:12 286:6,9 302:1</p> <p><b>bias</b> 234:12 254:12 255:16,17 255:18,20,20 256:6 275:14</p>
--	---	---	--

<b>bible</b> 143:6	142:19 162:21	<b>blinding</b> 178:22	<b>breaks</b> 6:17 7:21
<b>big</b> 79:2 111:20	203:2 274:20	<b>blocking</b> 34:2 70:6	<b>breakthrough</b>
138:17 143:10	281:11 285:11	<b>blocks</b> 69:11,14	103:15 104:2
145:17 172:17	<b>biomedical</b> 170:5	69:16	105:22 107:16
195:16 209:6	170:6 175:4	<b>blood</b> 201:16	118:13
225:1	<b>biopharma</b> 32:8	<b>blowing</b> 223:14	<b>breast</b> 15:18 75:15
<b>biggest</b> 145:6	<b>biopharmaceuti...</b>	<b>blown</b> 261:5	76:9
198:11 265:21	18:7 267:15	<b>blue</b> 261:19	<b>bridging</b> 249:12
266:3	<b>biophysics</b> 173:18	<b>blunt</b> 27:3	<b>brief</b> 9:2 52:1 68:1
<b>bill</b> 211:22	<b>biosimilar</b> 214:15	<b>board</b> 67:18	<b>briefing</b> 236:21
<b>billion</b> 201:6,7	215:20 216:1	147:14	<b>briefly</b> 6:5 69:5
211:7,8 257:17	<b>biosimilars</b> 14:1	<b>bob</b> 16:11	75:2 192:14
267:20,22	<b>biostatistics</b> 274:4	<b>bodies</b> 145:16	<b>brilliant</b> 20:10
<b>billions</b> 69:7	<b>biotech</b> 76:3,11	233:1	<b>brineura</b> 249:7
<b>binary</b> 206:22	276:15	<b>body</b> 168:19,22	<b>bring</b> 12:9 56:2
<b>binding</b> 23:21	<b>biotechnology</b>	<b>boilerplate</b> 253:19	63:18 72:18,20
25:5,7,12,13,16	292:4	<b>bold</b> 143:19	195:1 228:14
25:17,18 94:5,15	<b>birnkrant</b> 3:14	<b>bone</b> 14:4	278:22 301:7
94:17,20,20	15:20,20 39:11,12	<b>born</b> 58:10	<b>bringing</b> 62:16
116:21 122:9,19	113:11,12	<b>borrowing</b> 239:8	68:5 100:6 117:15
167:15	<b>bit</b> 56:10 76:21	<b>boston</b> 71:19	134:18 272:19
<b>bio</b> 215:1,14 292:2	84:1,20 99:7,10	77:14 83:8	<b>brings</b> 57:12 64:9
292:3	101:10 109:18	<b>bottled</b> 6:22	<b>broad</b> 36:14 42:14
<b>biobank</b> 32:8	111:7 128:8	<b>bottom</b> 43:8 47:7	53:20 59:22 60:1
39:21	130:18 131:9	221:13	60:2 65:12,13
<b>biochemistry</b>	136:10,20 141:13	<b>bounds</b> 233:7,20	66:5,8 95:4
173:18	146:22 175:9	<b>box</b> 6:21,22 259:6	127:18 186:22
<b>bioinformatics</b>	177:22 188:8	261:1,4,20	<b>broaden</b> 75:4
170:4	218:17 222:13	<b>boxes</b> 259:10	<b>broadening</b> 65:4
<b>biologic</b> 213:14	224:13 228:17	261:19 263:4	120:13
215:9 281:19	256:20 260:3	<b>bpc</b> 243:8 295:2	<b>broader</b> 18:1
<b>biological</b> 173:21	263:2 274:9	<b>brain</b> 81:19	132:15 136:5
295:11	275:11 303:8,20	<b>brainer</b> 89:20	242:15 257:1
<b>biologics</b> 71:9	304:8,16,20 305:4	<b>brainstorm</b> 28:17	270:18
213:16,19 214:3	306:13 307:16	137:10	<b>broadest</b> 66:13
215:4 269:8 273:3	308:6,8,17 310:2	<b>brainstorming</b>	<b>broadly</b> 29:2
<b>biology</b> 103:1	311:6 312:3,4,9	137:1	46:16 115:7
173:18 174:5	312:13	<b>breadth</b> 242:8	117:19 126:22
284:17	<b>black</b> 79:21	<b>break</b> 6:13,14,14	135:20 160:9
<b>biomarker</b> 32:21	151:15	8:18 9:1 76:17	176:17 187:2
66:7 119:15	<b>blame</b> 18:13	84:16 90:15,17	194:5 237:4
132:19	<b>blaming</b> 18:10	165:11 223:4	242:13,15 270:6
<b>biomarkers</b> 57:18	<b>blas</b> 235:21 238:7	243:13	<b>brought</b> 137:7
110:8 111:4,10			176:19 218:14

<p>229:14 285:16  <b>bubble</b> 146:18  <b>budget</b> 217:14  <b>build</b> 51:1 96:14  156:18 175:13  176:1,3,6,13  209:5 220:6  237:13 269:17  286:2  <b>building</b> 1:14  69:10,14,16 96:9  96:16 117:21  183:2 220:15  225:22 291:7  <b>built</b> 118:7 134:10  219:20,21 220:1  <b>bullosa</b> 245:18  289:1  <b>bunch</b> 195:19  <b>burden</b> 110:7  138:22 162:6  228:8  <b>busy</b> 218:8  <b>buy</b> 83:11  <b>byinformal</b>  122:18</p>	<p><b>cambridge</b> 175:20  <b>campus</b> 1:13  <b>cancer</b> 11:18 43:4  46:7 50:16 51:9  53:1 74:14,20  75:3,14,15 76:9  108:10,12 109:7  114:17 116:4  125:15 215:6,7  277:10  <b>cancers</b> 13:14  <b>can't</b> 113:21,22  216:16 240:14  <b>capabilities</b> 99:1  <b>capability</b> 95:11  <b>capacity</b> 95:9  <b>capitalize</b> 119:11  <b>capture</b> 39:21  125:19 218:19  253:1,17  <b>captured</b> 219:5  232:5  <b>captures</b> 200:2  <b>capturing</b> 124:9  <b>cardiac</b> 263:19  <b>cardiomyopathy</b>  260:14 262:8  <b>cardiovascular</b>  34:9 38:17 39:4  91:20 162:4 224:5  308:19  <b>care</b> 55:21 56:4,5  56:5,7 64:5,6 87:1  174:15 196:9,11  196:15 197:3  199:8 202:6 206:5  285:2  <b>career</b> 244:15  <b>careful</b> 79:5  148:11 313:3  <b>carefully</b> 313:16  <b>carried</b> 33:11  310:15</p>	<p><b>carry</b> 33:14 36:17  92:4 136:8  <b>cars</b> 176:21  <b>cartier</b> 3:10  291:17,21 301:11  302:13,20  <b>case</b> 12:6 43:21,21  53:9,9 54:9,9 55:7  55:7 73:9 82:16  89:11 90:9 93:7  94:13 124:4,5  129:6 130:3  153:10 154:17  155:17,22 159:22  204:16 219:18,21  245:4 246:21  247:4 260:9  305:12  <b>cases</b> 29:8 39:2  81:11 91:16 97:22  98:3,21 100:20  102:6 129:3 132:6  134:9 151:21,22  153:2 161:6  179:21 212:19  213:1 257:7  <b>cash</b> 6:21 209:21  212:1  <b>catalog</b> 204:1  <b>cataloging</b> 118:15  <b>catalogued</b> 126:22  <b>catalyzed</b> 85:11  <b>categories</b> 48:18  <b>categorize</b> 248:20  <b>causation</b> 224:20  <b>causative</b> 31:13  <b>cause</b> 42:19 43:22  91:21 161:4  275:10  <b>causing</b> 17:22  <b>cavazoni</b> 2:2 9:11  9:14 12:17 84:12  <b>cber</b> 291:1</p>	<p><b>cd3</b> 207:4  <b>cdcr</b> 61:22 180:2  285:7  <b>cdrh</b> 177:11 180:3  291:2 293:1  <b>cell</b> 15:7 73:21  174:3 213:14,19  214:18 215:3  277:10  <b>cells</b> 72:5 81:18,19  <b>cellular</b> 290:8  <b>center</b> 9:11 16:21  17:2 32:3,7,10  39:20 41:16 92:22  249:18 250:4,6  <b>centered</b> 58:15  <b>centers</b> 56:5,6  64:5 73:7 269:12  272:16 290:14  291:11 293:22  300:12  <b>central</b> 65:16  <b>centralized</b> 269:6  <b>centrally</b> 159:4  <b>centricity</b> 274:20  <b>century</b> 18:16  171:17 222:20  <b>ceo</b> 175:18  <b>certain</b> 48:16 49:4  58:10,19 89:2  98:3 110:16 121:6  135:21 149:2,4,4  152:16 215:2  263:12 270:2,17  280:20 282:16  <b>certainly</b> 23:21  25:2 27:14 37:19  42:4 43:16 44:2  46:18 48:22 50:13  116:22 118:21  120:12 121:5  123:8 125:13,16  163:4 164:14  203:7 204:17</p>
<b>c</b>			
<p><b>c</b> 305:20  <b>calculate</b> 167:2  169:6,8,11  <b>calibration</b> 20:8  <b>california</b> 314:20  <b>call</b> 12:14 24:18  24:22 26:11  112:14 144:22  146:1 222:8  <b>called</b> 22:7 24:5  26:14 78:15  137:13 152:21  157:9,12 175:19  <b>calling</b> 26:22 27:2  111:3 219:10  <b>calls</b> 22:11</p>			

242:10 255:8 256:22 261:3 288:21 293:10 297:7 <b>certificate</b> 314:1 315:1 <b>certify</b> 314:4 315:2 <b>certitude</b> 18:17 21:16 <b>cetera</b> 188:14 194:11 204:14 304:3 <b>cgm</b> 201:17,20 202:1,6 204:8 <b>cgms</b> 201:16 <b>chain</b> 213:5 <b>chair</b> 157:22 215:1 244:2 <b>challenge</b> 56:22 73:16 95:2,16 96:5 109:22 140:19 234:16 305:4 <b>challenges</b> 37:2 57:1,13 60:7 64:8 75:3 100:6 108:4 108:6,15,15 118:5 158:16 163:20 205:14 279:19 297:13 <b>challenging</b> 46:20 109:2 127:14 161:12 202:19 278:3 304:8 <b>chambers</b> 4:2 13:6 13:6 24:6,11 35:13,14 112:8,9 230:14,15 231:12 231:15,20 254:7,8 254:15 255:1,4,14 255:15 256:12 311:16,17 312:19	<b>chance</b> 77:15 79:14 132:8 203:13 245:7,8,11 275:5 <b>change</b> 19:4 20:14 23:1,2 84:4 93:15 138:6 142:17 154:1,4 158:7 160:7 191:12,19 191:21 192:1,9 194:1 199:18 201:11 216:18 246:3 255:21 <b>changed</b> 142:18 149:8 191:21 256:4,5 259:8 <b>changes</b> 143:15 146:7 159:15 238:7 260:1 <b>changing</b> 17:10 141:19 284:9 <b>channels</b> 94:22 <b>chao</b> 2:19 165:22 166:1 172:14 173:6,10,13 174:7 174:18,21 175:3 <b>characteristics</b> 92:16 100:12 179:9 239:16 <b>characterize</b> 10:1 200:3 229:6 265:14 <b>characterized</b> 186:1 <b>charitable</b> 207:15 <b>charles</b> 2:20 175:8 175:17 181:19,22 182:22 184:4,16 <b>chart</b> 254:17 <b>cheap</b> 71:7 <b>cheaper</b> 42:3 210:7 <b>checkmate</b> 74:13	<b>chemistries</b> 69:1 69:10 <b>chemistry</b> 69:4 173:4 <b>chemists</b> 71:8 <b>chemotherapy</b> 215:8 <b>cherise</b> 3:1 194:21 196:20 199:21 200:22 203:12 <b>child</b> 78:11 82:12 89:12 212:22 <b>children</b> 59:16 82:20,21 86:2,10 86:12,13 161:7 295:5,7 307:15 <b>children's</b> 71:19 77:14 <b>chips</b> 6:22 <b>choice</b> 7:1 153:13 <b>cholesterol</b> 33:14 33:21 36:5 38:18 38:21 39:4 <b>choose</b> 16:8 137:6 150:16 154:17,18 <b>chou</b> 2:19 165:20 165:22 166:1 171:11 172:13,14 173:6,10,13 174:7 174:18,20,21 175:3 <b>chronic</b> 30:17 75:5 158:11 198:15 200:15 270:22 297:12 302:7,11 308:16 309:3 310:1 <b>ci</b> 166:21 169:8,8 171:20 172:2 <b>cid</b> 194:11 <b>circumstances</b> 104:14 193:7 <b>citation</b> 171:19,20 174:16	<b>citations</b> 166:14 <b>cite</b> 226:11 249:9 <b>cited</b> 63:11 169:10 199:11 <b>ckd</b> 158:11 <b>claim</b> 173:1 224:12,20 <b>claiming</b> 137:11 222:3 <b>claims</b> 41:2 193:12 201:6 222:4 <b>clarification</b> 243:6 305:14 306:6 <b>clarify</b> 40:18 180:7 296:16 312:13 <b>clarifying</b> 241:13 <b>clarity</b> 5:11 11:4 12:7 122:21 235:12 238:3 240:9 268:13 271:20 272:12 293:13 301:13 312:14 <b>claro</b> 3:18 15:4,4 205:3,4 <b>class</b> 146:14 <b>classic</b> 140:19 141:2 <b>classified</b> 127:19 263:22 <b>classifying</b> 210:22 <b>clean</b> 176:12 <b>clear</b> 43:14 65:10 85:4,9 107:17 116:15 168:18 189:11 191:5 276:10 277:1 312:17 <b>clearer</b> 270:7 <b>clearest</b> 252:20 <b>clearly</b> 44:6 104:9 152:6 187:19
---	---	---	--

203:20 251:19 255:4 260:5 261:12 307:20 <b>cleave</b> 70:3 <b>clients</b> 134:13 137:3 142:3,4 <b>cling</b> 82:14 <b>clinic</b> 64:3 138:17 187:17 299:3 <b>clinical</b> 5:6 12:20 19:1 31:10,18 32:17,19 33:1,3,7 34:4,5,11 44:12 45:3 46:12 48:1,2 50:21 51:15,16 52:5 54:19 55:4 56:2 59:20 63:9 63:18,22 64:2,5,6 64:8,16,18 65:1 75:9 85:14,18,20 87:5,7 92:3,3,8 93:14 94:12 96:3 97:16 98:2 111:8 111:16 113:15,17 114:1 119:8,22 120:5,21 121:6,8 121:14,15 124:20 126:11 127:12 128:2,12,21 133:4 133:11,19 137:13 137:18 138:4,8,10 138:21 139:18,19 139:20 140:2 141:12 142:3,12 143:5 145:4 147:2 147:5 149:3 150:2 151:8 157:21 166:6 168:11 170:20,23 171:2,9 171:13 176:10 177:21 178:5,13 180:1 184:7 187:13,19,20,21 188:9,12,19	192:19 196:9,18 201:10,18,21 202:2,4,17 208:13 208:14,22 216:13 225:14 236:12,15 237:21 238:4,14 239:1,21 240:3,11 243:9 245:21 252:5,8 255:12 257:14 258:18 266:9,20 268:11 271:7,22 274:14 278:15 285:1,2,11 295:4,10 297:20 298:13 302:4 308:14,19 313:2 <b>clinically</b> 200:10 201:3 <b>clinicaltrials.gov</b> 223:4 224:14 <b>clinicians</b> 85:12 95:7 198:8 <b>clinics</b> 73:21 138:5 <b>clips</b> 80:18 <b>cll</b> 15:12 <b>clopidogrel</b> 30:1 <b>close</b> 2:22 75:17 78:1 96:14 138:9 138:14 153:11 160:2 195:10 197:19 198:6 200:11 202:9 203:17 204:7 205:9 206:17 207:19 220:22 278:11,19 <b>closely</b> 61:9 64:7 95:19 <b>closer</b> 19:17 21:9 97:7 153:20 224:22 <b>closing</b> 9:2 <b>cluster</b> 96:17,19	<b>clusters</b> 96:18 264:21 <b>cmc</b> 74:7 118:6 <b>cml</b> 15:6 <b>cns</b> 13:14 70:15 102:21 103:5,22 106:5,18,19 107:13 109:1 111:12 112:1 <b>coaster</b> 195:9 <b>code</b> 69:14 71:12 262:12 264:5 <b>coded</b> 259:9 <b>codes</b> 258:5 259:10,12,21 262:3,6,15,20 263:4 264:2 <b>coding</b> 262:4 <b>cognitive</b> 105:15 106:7 110:14 <b>cohort</b> 245:19 <b>coin</b> 241:6 <b>coincidence</b> 108:9 <b>collaborate</b> 89:15 <b>collaboration</b> 32:8 32:9 34:15,22 36:15 37:3,5 62:7 62:8 80:11 85:11 163:10,19 178:10 <b>collaborations</b> 180:17 <b>collaborative</b> 28:18 40:13 61:6 83:16 164:12 309:20 <b>collaboratively</b> 64:14 187:12 <b>collaborators</b> 79:11 <b>colleague</b> 136:22 139:13 <b>colleagues</b> 141:1 142:20 282:9 303:14,15	<b>colleague's</b> 148:13 <b>collect</b> 105:4 111:18 112:16 147:5 231:8 232:17 <b>collected</b> 64:2 178:12 227:6 229:16 230:17 <b>collecting</b> 63:3 96:6 285:18 <b>collection</b> 41:9 60:4 63:15 105:1 128:13 223:8 227:10 228:8 278:10 285:21 308:13 <b>collective</b> 16:9 28:18 <b>collegial</b> 20:21 <b>color</b> 259:9 262:4 262:12 <b>colored</b> 233:13 261:19 263:17 <b>column</b> 263:16 <b>combination</b> 41:18 54:10 105:8 152:21 153:1 154:14 155:10 156:5,13 157:9 166:6,20,21 169:2 169:4,14,14,17,19 170:9,12 171:7,11 171:16 172:2,8,10 174:12 207:7 212:2 258:5 272:10 294:2 303:22 304:2 <b>combinations</b> 170:20 311:18,22 <b>combine</b> 152:17 157:6 <b>combined</b> 153:9 153:19 154:10 155:5,7,16 156:21
--	---	---	---

157:3 161:13 194:18 223:2 224:15 <b>come</b> 74:4 100:21 110:12 120:7 125:4 129:6 131:5 139:7 146:6 149:1 150:20 199:11 202:11 206:13 217:18 219:19 225:16 226:10 227:20 247:10 275:18 300:19 309:12 311:12,13 <b>comes</b> 17:18 86:22 104:4 105:11 140:8,19 151:11 155:11 245:22 260:1 277:19 279:18 305:2,3 306:18 <b>comfort</b> 18:21 241:9 254:2 <b>comfortable</b> 100:15 165:1 <b>coming</b> 42:2 56:20 60:18,18 66:12 70:19 103:21 105:16 106:16 107:21 109:21 175:1 207:18 237:2 288:10 300:15 312:7 <b>commend</b> 283:22 289:10 <b>comment</b> 6:1 35:3 74:12 99:7 126:12 145:21 148:8,13 173:8 205:6 217:19 231:13 232:21 234:3 240:17 253:16 301:20 310:14,16	<b>commented</b> 269:20 <b>comments</b> 9:8 99:6 126:3 144:6 192:15,21 230:4 232:13 268:1,2 269:19 303:4,7 308:22 309:1 310:7,20 313:2,3 313:11,12 <b>commercial</b> 67:8 71:4 234:17 <b>commercially</b> 221:1 <b>commissioned</b> 274:12 <b>commissioner</b> 62:13 <b>commitment</b> 17:7 111:18 112:15 113:5 190:6 195:2 292:12 298:5 <b>commitments</b> 94:5 272:7 298:9 <b>committee</b> 224:7,8 <b>common</b> 17:7 71:1 71:4 91:21 93:17 95:22 106:6,12 112:22 113:19 127:16 159:6,13 159:16 160:2,5 161:1,3,20,21 162:7 164:4 173:20 187:10 259:5 263:18 264:6 309:15 <b>commonalities</b> 195:20 249:12,15 <b>communicated</b> 126:22 <b>communication</b> 23:12 24:5 83:5 85:12 94:22 192:5 219:22 233:18	270:1,5 309:21 <b>communications</b> 22:5,9,10,11 23:17 24:2,10 27:12 44:3 226:16 253:19 269:21 305:10,13 <b>communities</b> 286:1 290:17 <b>community</b> 27:14 55:19 56:4,8 58:19 59:9 61:2,3 61:12 62:7,19 94:12 122:2 129:17 131:7,18 131:20 132:16 163:16,22 170:5 185:1 195:17 203:13 283:10 <b>comorbidities</b> 264:3 <b>companies</b> 18:9 40:5 41:21 68:20 71:1 72:17 97:14 97:19 109:17 114:21 149:5 177:17 179:18 181:3 182:5 220:7 220:14 226:14 236:4 237:10 240:21 267:15 268:3,4 274:7 276:15 279:17 282:13 286:5 291:22 292:5,7 299:5 <b>companion</b> 36:11 118:14 <b>company</b> 42:11 65:15 76:3,3,11 102:1 149:10 152:12 175:18 176:1,9 217:6,9 220:16 223:20	256:17 303:10 <b>comparators</b> 127:22 <b>compare</b> 134:2,12 162:4 168:18 171:18 184:1 246:12,14 <b>compared</b> 121:16 <b>compares</b> 156:21 <b>comparing</b> 92:16 255:22 <b>comparison</b> 157:3 170:19 171:16 221:17 <b>compelling</b> 214:22 252:7 <b>competing</b> 116:12 <b>competition</b> 213:18 <b>competitive</b> 37:9 163:9 214:4,4,5 290:1 <b>compilation</b> 80:16 259:7 <b>compiled</b> 265:11 <b>complete</b> 50:20 117:14 119:20 170:1 209:8 <b>completed</b> 32:12 156:20 <b>completely</b> 77:20 131:4 145:20 <b>complex</b> 94:1 118:12 151:8 173:14,20 180:12 182:12 271:12 <b>compliance</b> 295:3 <b>compliant</b> 140:7 <b>complicated</b> 82:1 312:14 <b>complications</b> 195:8 <b>compliment</b> 92:7
---	---	---	--

<b>complimented</b> 198:4 <b>compliments</b> 199:2 <b>comply</b> 97:12 294:13 <b>component</b> 29:2 105:10 257:5 294:3 <b>components</b> 179:7 <b>comport</b> 253:10 <b>composite</b> 34:10 179:7 190:8 221:11 <b>compound</b> 277:1 277:6 281:8 <b>compounded</b> 44:15 <b>compounds</b> 70:11 <b>comprehensive</b> 240:2,10 <b>comprises</b> 257:17 <b>comprising</b> 257:18 <b>compromise</b> 22:5 50:14 51:12 <b>computational</b> 31:4 40:22 178:3 <b>computer</b> 167:21 169:2 171:23 <b>computerized</b> 140:8 166:5 <b>computing</b> 170:2 <b>conceal</b> 91:15 <b>concentrated</b> 297:19 <b>concept</b> 45:18,19 125:15 220:5 226:20 228:14,15 273:20 <b>concepts</b> 129:20 130:21 273:12 <b>conceptual</b> 19:12	<b>concern</b> 36:21 37:9 101:16 280:5 304:10 <b>concerned</b> 216:13 311:7 <b>concerning</b> 126:12 <b>concerns</b> 36:17 37:9 115:11 116:22 123:4 228:11,22 <b>concerted</b> 97:4 <b>concise</b> 147:11 <b>conclude</b> 8:4 240:4 265:7 309:7 <b>concluded</b> 313:21 <b>concludes</b> 194:13 312:22 <b>conclusion</b> 92:21 93:9 137:5 149:1 214:8 240:13 272:18 <b>conclusions</b> 150:20 247:8 <b>concrete</b> 180:6 181:1 275:19 276:8 279:7 <b>concurrent</b> 128:1 <b>concurring</b> 248:10 <b>condition</b> 47:3 49:14 58:8 71:14 77:3 88:11 187:10 212:3 259:14 <b>conditioned</b> 210:12 <b>conditions</b> 42:19 42:22 43:5 45:5 46:17 47:9 49:14 49:19 50:18 54:1 57:22 59:13 60:3 61:1,5 67:3 70:9 86:11 159:8 162:17 183:1	186:11 187:4 200:12 210:4 212:3 <b>conduct</b> 36:22 66:6 140:3,15 142:12 143:14 217:7 298:1 <b>conducted</b> 72:4 140:16 198:10 199:7 260:9 266:15 <b>conducting</b> 63:1 65:1 151:8 266:11 <b>conduction</b> 255:11 <b>conductivity</b> 255:11 <b>conducts</b> 98:14 <b>conference</b> 135:5 135:7 <b>conferences</b> 130:4 <b>confidence</b> 19:14 163:16 164:9 <b>confident</b> 151:9 <b>confidentiality</b> 228:11 234:18 <b>confirmatory</b> 251:18 252:1,3,11 <b>conflict</b> 293:16 <b>conflicting</b> 226:22 229:21 <b>congestive</b> 185:17 <b>congratulations</b> 203:5 <b>conjunction</b> 54:12 <b>connect</b> 73:4 <b>connected</b> 128:12 199:1 201:16 <b>consensus</b> 96:9 160:1,2,6 186:18 186:21 196:4 <b>consent</b> 115:12 <b>conservative</b> 44:17 54:5	<b>consider</b> 26:19 51:10,12 94:7 98:20 203:9 209:22 211:9 234:2,14 250:12 287:18 289:18 313:11 <b>considerable</b> 97:21 98:22 185:14 190:22 236:5 282:10 <b>consideration</b> 49:2,7,15 53:3 85:22 87:4 99:13 243:2 276:19 313:4 <b>considerations</b> 45:2,20 47:8 53:10 65:16 148:2 235:4 <b>considered</b> 48:7 57:9 65:21 101:6 103:8 118:6 190:4 198:2 <b>considering</b> 224:1 261:13 264:11 <b>consistencies</b> 303:19 <b>consistency</b> 20:4 44:7 47:12 142:11 186:13 219:10 222:1 229:1 242:21 244:13 249:11,22 251:6 268:14 269:2,11 271:13 272:12 273:6 293:13 298:21 301:14 <b>consistent</b> 26:3 126:10 190:11 222:4 254:3 263:8 271:2 272:4 273:9 273:11,16 303:3 312:10
--	---	--	--



<p><b>consistently</b> 61:18 87:4 88:12 191:16 272:8 273:19 307:3,7</p> <p><b>consists</b> 116:9 117:22</p> <p><b>consortia</b> 191:1</p> <p><b>consortium</b> 32:9 178:11</p> <p><b>consortiums</b> 40:5</p> <p><b>constant</b> 169:20</p> <p><b>constantly</b> 282:14 285:7</p> <p><b>constituencies</b> 196:7</p> <p><b>constitute</b> 116:18</p> <p><b>constructed</b> 220:8</p> <p><b>constructing</b> 37:17</p> <p><b>consultancy</b> 136:16,17 137:2</p> <p><b>consultant</b> 84:11</p> <p><b>consultants</b> 102:6</p> <p><b>consultation</b> 44:6 45:21</p> <p><b>consultative</b> 95:13</p> <p><b>consulting</b> 101:18 276:15</p> <p><b>consumer</b> 303:16</p> <p><b>consumers</b> 212:20 217:13</p> <p><b>consuming</b> 96:11</p> <p><b>contact</b> 94:3,7 166:11 233:21</p> <p><b>contacted</b> 140:13</p> <p><b>contain</b> 294:3</p> <p><b>contained</b> 157:14</p> <p><b>contains</b> 6:22 221:8</p> <p><b>contemporaneous</b> 120:21</p> <p><b>content</b> 216:14 295:6</p>	<p><b>contest</b> 210:21</p> <p><b>context</b> 33:2 47:13 50:17 51:14 64:18 101:10 120:5 121:10 123:9 151:21 161:2 200:8 220:21 246:18 310:13</p> <p><b>contexts</b> 121:7 222:3 223:6</p> <p><b>continue</b> 31:7 114:18 158:14 210:21 269:17 286:4 297:8,12 299:15 313:14</p> <p><b>continued</b> 45:12 46:1 62:6 114:9</p> <p><b>continues</b> 185:20 272:21</p> <p><b>continuing</b> 16:12 70:20 119:2 272:20 273:1 282:19 294:22</p> <p><b>continuous</b> 101:8 201:15 280:7 298:7</p> <p><b>continuously</b> 101:8 114:18</p> <p><b>continuum</b> 151:14</p> <p><b>contracting</b> 101:7</p> <p><b>contrast</b> 168:22</p> <p><b>contrasts</b> 286:14</p> <p><b>contribute</b> 45:19 136:20 195:22 207:16</p> <p><b>contributed</b> 218:15</p> <p><b>control</b> 128:1 157:8,11 161:1,10 177:21 178:8,21 179:2,14 239:9,17 245:8,12,16 246:13,21 247:6,6 247:15,21,22</p>	<p>248:7,8,10,11,12 248:13,14,14,21 249:10 254:12 255:15,21 278:4,6 278:17,19 279:12 280:6 298:18 306:22</p> <p><b>controlled</b> 107:1 146:1 153:10 183:18,19</p> <p><b>controls</b> 29:8 110:2 121:7 152:19 156:12 241:14 244:10,11 244:20,21 245:15 246:7,12 247:5,12 247:14 248:10,20 265:5 271:6 295:13</p> <p><b>convene</b> 186:16</p> <p><b>convening</b> 184:21 268:10</p> <p><b>conventional</b> 150:4 155:12 156:1</p> <p><b>conversation</b> 22:19 24:15 25:1 26:10,12 296:13 305:9</p> <p><b>conversations</b> 287:22 298:4</p> <p><b>convinced</b> 138:6 276:5</p> <p><b>cookie</b> 6:22</p> <p><b>cooperation</b> 272:15 274:14</p> <p><b>coordinated</b> 293:21</p> <p><b>coordination</b> 19:18 21:9 293:13</p> <p><b>coordinators</b> 64:6</p> <p><b>copy</b> 214:11</p> <p><b>corollary</b> 286:13</p>	<p><b>coronary</b> 33:16 36:5</p> <p><b>correct</b> 24:11 173:6 279:22</p> <p><b>corrections</b> 100:17</p> <p><b>correlation</b> 224:17</p> <p><b>corresponding</b> 259:22</p> <p><b>corroborate</b> 34:6 34:11</p> <p><b>cost</b> 6:21 92:4 170:21 209:7 210:13 212:20 217:8,13</p> <p><b>costing</b> 134:12</p> <p><b>costly</b> 200:13</p> <p><b>costs</b> 76:13 186:10 197:14 208:13,14 209:1</p> <p><b>couldn't</b> 145:14 185:11 202:19</p> <p><b>counsel</b> 314:11,14 315:7,10</p> <p><b>count</b> 27:10</p> <p><b>counter</b> 204:5,9 204:12</p> <p><b>counting</b> 255:2</p> <p><b>countries</b> 44:13 170:7 267:14,19</p> <p><b>country</b> 73:7 203:15</p> <p><b>couple</b> 31:22 73:9 103:15 122:6 147:18,19 150:21 207:3 210:5 219:7 226:19 248:16 260:4 261:12 274:7 276:7 307:3 309:7</p> <p><b>coupled</b> 41:17</p> <p><b>course</b> 56:15,21 59:4,6,7 61:2</p>
---	---	---	--

62:15 74:10 78:10 94:17 129:11,14 139:16 140:17 141:19 143:4 145:21 160:22 172:7 181:15 230:9 241:5 261:16 279:22 283:13 <b>covance</b> 256:16 <b>covariants</b> 154:18 <b>covariate</b> 153:5,6 <b>covariates</b> 154:17 <b>covered</b> 106:1 242:20 257:20 <b>covering</b> 185:10 204:12 <b>covers</b> 179:6,7 <b>cox</b> 152:3,7 <b>create</b> 25:16 50:6 119:15 135:3 178:20 179:5 209:16 258:1 272:13 <b>created</b> 258:20 <b>creates</b> 178:2 209:17 305:4 <b>creating</b> 44:18 300:10 <b>creative</b> 206:4 <b>credible</b> 246:19 <b>credit</b> 6:21 211:17 211:20 <b>crisis</b> 85:2 <b>criteria</b> 46:17,18 47:1 59:22 60:2 65:4,13 73:17 101:1 120:13 187:4 264:16,17 294:8 <b>criterion</b> 49:3 <b>critical</b> 23:13,14 54:22 55:2 59:17 95:1,10 116:6,12	119:10 178:10 180:22 187:6 190:20 257:4 270:14 287:2,11 304:14 <b>crm</b> 280:7 <b>cro</b> 274:6 <b>crohn's</b> 277:13 <b>cross</b> 42:15 43:12 44:18 46:3,21 47:11 194:16 221:10 223:3 224:13 290:13 308:4 <b>crosses</b> 281:21 <b>crowded</b> 144:15 <b>ct.gov.</b> 184:9 <b>ctm</b> 198:22 <b>ctti</b> 144:16 <b>cultural</b> 20:8 198:14,21 275:19 <b>culture</b> 203:1 <b>cumulative</b> 212:5 <b>curated</b> 31:10 176:10 <b>cure</b> 77:4 82:2 <b>cures</b> 222:20 267:21 <b>curious</b> 61:20 182:15 193:1 206:13 <b>current</b> 10:18 12:8 19:7 21:5 28:8 31:22 183:11 186:19,20 238:19 241:19 283:11,18 285:13 295:13 298:10 <b>currently</b> 5:14 9:17 11:14 39:22 45:3 56:16 57:3 114:1 139:8 141:4 186:20 235:20 263:22 265:21	<b>cursor</b> 230:5 <b>curve</b> 132:3 167:9 167:15,19 168:2,7 168:9 170:14 200:14 <b>cut</b> 10:16 84:16 211:21 <b>cutting</b> 290:13 <b>cvot</b> 203:5 224:9 224:17 <b>cycle</b> 191:13 192:20 226:5 305:3,7 311:13 <b>cyp</b> 29:20 <b>cyp2c19</b> 29:22	168:8,13 169:21 170:10,13,22,23 171:12 176:8,11 176:12,14 177:21 178:8,12 179:9 182:3,5,9 183:8 184:8,13 187:13 190:3,9 193:8,12 193:19 196:6 198:2,9 199:3,9 201:17,21 202:1,3 207:4,6 208:7 209:8 220:1,10 221:14,21 222:6,7 222:9,14,16,18,22 223:1,5,8,15 224:13,14 225:18 226:7,9,18,22 227:3,5,19 228:5 228:8 229:15,16 230:6 231:5,8 232:5 234:7,10 237:21 238:4,11 238:15,20,22 239:8,13,19 240:3 240:7,11 242:10 242:15 243:1 248:5 258:3,15,17 258:18 265:20 266:19 267:3 271:21 274:21 275:12,12,12,13 275:15 277:19,21 278:10 279:11 288:5 298:16 304:9 305:5,6 308:12,13 309:2 <b>database</b> 50:2 98:20 99:8,14 178:18 220:8 224:15 248:1 257:16 258:7,12 <b>databases</b> 31:6 40:20 41:12
		<b>d</b>	
		<b>daily</b> 49:11 198:11 220:19 234:8 279:6 <b>danger</b> 21:5 <b>dangerous</b> 17:17 19:8 200:19 201:1 <b>dangers</b> 21:8 <b>dargos</b> 241:12 <b>data</b> 10:2 28:5,8 28:20 29:5 31:10 32:13 33:4 34:11 34:16 35:6,10 37:7,11 39:14,15 39:16,16,17,18,19 39:21 40:4,6,6,20 41:2,4,5,9 42:5,6 50:3,4,21 60:4 63:6,9,15 64:2 65:17 75:10,16 76:1,8,10 96:6 98:9 105:4 111:19 111:20,21 112:6 112:16 113:1,1,7 113:7,8 120:19,21 121:8,8,13 128:2 128:3,13 147:1,8 150:2 152:8 154:1 154:9 166:5 168:6	

<p>192:17 220:2 223:4</p> <p><b>datapoints</b> 147:6</p> <p><b>datas</b> 34:17</p> <p><b>dataset</b> 150:19 218:19 221:8 257:21 258:17,20 260:13,21 262:4 264:9,16 266:7</p> <p><b>datasets</b> 190:8 220:18,22 221:11 225:6 226:12 227:1 258:1</p> <p><b>date</b> 1:10 98:10 288:17</p> <p><b>dates</b> 229:20,22</p> <p><b>daughter</b> 77:6</p> <p><b>daunting</b> 256:9</p> <p><b>dawn</b> 68:20</p> <p><b>day</b> 6:4 24:21 78:6 78:9 82:14 88:3 88:20 104:4 171:15 310:5 313:7</p> <p><b>days</b> 9:7 73:2 79:1 83:7 151:19 236:2 305:21</p> <p><b>dcct</b> 199:9</p> <p><b>de</b> 3:18 15:4,4 160:1,2 205:3,4 216:16 284:18</p> <p><b>deal</b> 96:12 195:16</p> <p><b>dealing</b> 244:22 255:19</p> <p><b>deals</b> 241:4</p> <p><b>dealt</b> 241:9</p> <p><b>dearly</b> 253:22</p> <p><b>death</b> 42:20 58:11 91:11,21</p> <p><b>debating</b> 108:6,6</p> <p><b>debbie</b> 15:20</p> <p><b>debilitating</b> 42:17 46:18 185:11</p>	<p><b>debra</b> 3:14 15:20 39:12 113:12</p> <p><b>decade</b> 75:17 176:18</p> <p><b>decades</b> 29:7 69:8 69:19 161:13 257:19</p> <p><b>decentralize</b> 63:17</p> <p><b>decentralized</b> 145:2</p> <p><b>decide</b> 137:7 237:11</p> <p><b>decided</b> 278:12</p> <p><b>deciding</b> 311:1</p> <p><b>decision</b> 28:13,20 34:18 45:21 90:8 93:2,12 112:17 116:18 121:6 176:13 191:8 196:9 197:4 232:11 240:7 253:5 270:15 271:14 298:17</p> <p><b>decisions</b> 26:15 53:17 54:2 74:4 116:20 120:17 127:5 197:2 202:6 232:1 236:10 238:6,12 253:4 296:5</p> <p><b>deck</b> 109:2</p> <p><b>decline</b> 224:18</p> <p><b>declined</b> 261:9</p> <p><b>decrease</b> 33:16,16</p> <p><b>decreased</b> 31:13 125:2</p> <p><b>dedicated</b> 241:22 250:7</p> <p><b>deep</b> 103:1 177:7 216:4 308:13</p> <p><b>deeply</b> 304:16 308:17</p> <p><b>deerfield</b> 229:19</p>	<p><b>default</b> 44:17 286:4</p> <p><b>deficiencies</b> 98:17</p> <p><b>define</b> 47:4 73:4 166:21 186:17 187:13,19 242:14 257:22 258:3</p> <p><b>defined</b> 43:14 66:7 110:22 159:9,10 279:12</p> <p><b>defining</b> 44:6 46:19 47:1,9,10 48:14 164:4</p> <p><b>definitely</b> 162:7 226:9 273:15</p> <p><b>definition</b> 42:18 186:20,21,21 187:1</p> <p><b>definitive</b> 261:21 262:18</p> <p><b>definitively</b> 262:1</p> <p><b>degraded</b> 69:3</p> <p><b>degree</b> 261:16 263:12</p> <p><b>degrees</b> 151:15</p> <p><b>delay</b> 50:6 53:9 207:6 227:8 305:7</p> <p><b>delayed</b> 24:3 207:8 294:16</p> <p><b>delays</b> 43:22</p> <p><b>deliberate</b> 205:22</p> <p><b>delighted</b> 94:1</p> <p><b>deliver</b> 9:12</p> <p><b>delivery</b> 196:9,11 196:15 206:5</p> <p><b>demand</b> 209:16</p> <p><b>demands</b> 185:5</p> <p><b>dementia</b> 105:15 110:14</p> <p><b>democratized</b> 194:15</p> <p><b>demographic</b> 179:8</p>	<p><b>demonstrate</b> 187:14 223:11</p> <p><b>demonstrated</b> 158:21 160:15 224:16</p> <p><b>demonstrates</b> 46:13</p> <p><b>demonstrating</b> 190:12</p> <p><b>demonstration</b> 180:16</p> <p><b>denominator</b> 173:20</p> <p><b>dense</b> 41:17</p> <p><b>dental</b> 14:2</p> <p><b>depend</b> 123:9 245:15</p> <p><b>dependent</b> 123:9 255:8</p> <p><b>depending</b> 136:2 145:5 187:6,7 193:18 209:14</p> <p><b>depends</b> 104:14 140:17 151:21 281:7</p> <p><b>deploy</b> 97:16</p> <p><b>depressingly</b> 275:7</p> <p><b>depression</b> 181:18</p> <p><b>depth</b> 86:22</p> <p><b>deputy</b> 5:6 9:11 12:20 122:13</p> <p><b>derive</b> 40:20 168:20</p> <p><b>derived</b> 174:9 260:14</p> <p><b>deriving</b> 45:10 51:7</p> <p><b>dermatology</b> 14:2</p> <p><b>derosier</b> 3:6 256:15,16 266:1 267:5</p> <p><b>descent</b> 259:6</p>
---	--	--	--

<b>describe</b> 43:9 47:8 63:16 288:19	119:22 122:4 125:8 128:3,6,9	<b>determined</b> 171:7 172:12	46:2,6,8,12 47:22 48:8 49:18 50:6,9
<b>described</b> 166:19 167:5 178:15 219:1 249:17	132:10,10 134:11 134:11,18,22 135:6,19 136:4	<b>determines</b> 24:18 98:4	53:8 54:3,14 55:3 57:20 58:21 60:16
<b>describes</b> 168:15 178:6	161:19 171:3 180:12 188:10	<b>determining</b> 97:9 147:8 189:9 194:14 208:15 211:16	61:12 62:11 63:2 68:4 71:6 85:5 87:6 91:5 93:16 97:16,20 99:1
<b>describing</b> 110:2 142:12 180:3 265:20	189:17 190:3 193:2,3 237:19 238:17,18 239:22 271:12 272:1	<b>develop</b> 41:6 52:19 53:11 61:3 96:2 105:7,13 180:2,16 187:12 193:17 211:18 221:1,6 241:9 260:11 266:13 271:17 280:12 296:16 300:15	103:9,13 104:16 105:8 106:19 107:9,20 108:3,18 109:1 115:8 117:7 120:15 124:20 125:1 126:8 127:12 128:21 132:16,21 133:4 133:19 144:1 159:2 176:5 177:15 180:5,8,9 181:5 182:18 187:5,19,21 191:2 191:22 206:14 208:7 209:10 218:18 219:8
<b>desert</b> 160:18	274:19 275:21 276:2,9,10 279:8 279:16 297:21 298:14 299:4 302:5,10,16 307:2	<b>developed</b> 46:14 49:21 52:9 60:21 61:8 81:10 104:17 105:15 160:19 177:6 179:17 226:13 239:6 266:7 279:8	220:11 221:16 223:17 228:13 230:13 235:13 236:11 237:21 238:4,10,13,16 240:3,10,12 241:21 257:14 265:21 266:22 268:6,15,20,22 269:13 270:2,8,11 270:16,22 271:8 271:12 273:2 281:11,20 282:12 282:20 283:15,19 284:5,18 289:22 293:19 295:15 298:19 306:7,18 308:15 309:10 310:3
<b>deserves</b> 218:17 228:17	<b>desire</b> 25:22 49:18 241:14 273:6	<b>developing</b> 20:17 44:18 64:14 74:18 95:17 112:4 115:17 210:13 225:3 254:1 267:16 278:13 284:22 285:11 293:6	
<b>design</b> 92:13,14 92:16,17 93:4,5 93:10 99:21 100:2 100:3 106:2 121:14 123:6 130:20 132:7 140:10 144:3 146:10 149:11 157:22 164:20 169:17 189:15 208:11 274:8,9 276:16,17,19,20 277:2,5,8 280:16 281:1 285:21 305:2 307:4,5	<b>desired</b> 209:12	<b>development</b> 1:4 5:12 7:19 9:19,20 9:21 10:20 11:5,6 11:13 12:1,11 18:8 19:11,14 27:14 28:6,9,11 33:19 35:9 37:8 37:13 42:16 43:3 43:10,11,14,19 44:6,10,20,21	
<b>designated</b> 118:13 250:16	<b>despite</b> 42:21 78:18 79:19 98:11 191:4		
<b>designation</b> 103:15 104:2 106:1 223:7	<b>detail</b> 35:16 53:12 99:7,10 135:7 137:12 166:10 260:8 293:7 301:12,12		
<b>designations</b> 107:16	<b>detailed</b> 24:14 269:19 300:1 306:6		
<b>designed</b> 120:1 209:11 213:2	<b>details</b> 135:9 293:10 301:4,9		
<b>designees</b> 313:7	<b>detect</b> 276:12		
<b>designs</b> 11:16 59:20 91:10 95:9 96:17 97:2 100:7 106:3,8,9 107:8,9 108:20 113:15 115:6,10,22	<b>deteriorate</b> 247:3		
	<b>determination</b> 55:7 88:18 142:22 171:18		
	<b>determine</b> 168:20 168:23 169:21 170:15,15 171:1,5 171:6 200:17 208:18 246:19 300:9		

<p><b>developments</b> 56:13 57:6 58:22 175:11</p> <p><b>deviations</b> 271:9</p> <p><b>device</b> 290:7 303:15 304:1 305:16 312:8</p> <p><b>devices</b> 128:12 177:12,14 196:2 304:11 311:19</p> <p><b>devoted</b> 256:8 267:16</p> <p><b>dgiep</b> 251:10</p> <p><b>diabetes</b> 195:1,3,3 195:4,9 196:11 197:1,3,15 198:7 198:9,12,18 199:8 200:3,5,11,12,16 201:14 202:7 203:4,10,19 204:15 205:2 207:3,14 224:6,19</p> <p><b>diabetic</b> 160:5 161:21 185:18</p> <p><b>diagnosed</b> 77:1 215:11 263:11</p> <p><b>diagnoses</b> 262:6,9</p> <p><b>diagnosis</b> 77:12 261:21 262:18 263:10 290:7</p> <p><b>diagnostic</b> 36:11 105:18 109:6 259:15 262:6 263:4</p> <p><b>diagnostics</b> 118:15</p> <p><b>diagonal</b> 169:19</p> <p><b>dialog</b> 189:5,14 190:15 238:3 240:6 242:14</p> <p><b>dialogue</b> 34:15 74:9 94:6 98:10 283:7</p>	<p><b>dialogues</b> 119:21</p> <p><b>dialysis</b> 161:22 261:13</p> <p><b>diatribe</b> 194:22 199:13 203:12</p> <p><b>dickensian</b> 56:10</p> <p><b>didn't</b> 101:15 113:16 225:16 232:12 242:5</p> <p><b>die</b> 77:8 82:12</p> <p><b>died</b> 215:12</p> <p><b>differ</b> 38:10</p> <p><b>difference</b> 38:8,9 53:13 304:21 307:1 309:16</p> <p><b>differences</b> 53:14 53:16 96:8 106:18 107:17 278:16 301:1 304:17 307:16 310:22 311:2,18</p> <p><b>different</b> 40:20 41:4,4,14 53:17 57:10,11 69:10,22 70:18 82:1,19 86:14 87:22 88:1 92:6 95:21 96:7 106:6 108:5 110:19 116:9 121:15 123:14,16 126:10 131:4 133:16 135:1,20 136:10 150:19,20 153:21 154:20 156:11 158:14 166:2 168:1,2,3,3 168:4 171:15,17 176:22 180:14 182:8 190:9 195:18,19 196:7 202:21 207:13 209:7 211:4 213:4 238:22 239:3 242:20 246:10,10</p>	<p>246:17 251:1,17 252:1,3,11 253:16 259:7,10,21 261:19 277:6 281:12 287:1 290:18 291:1,11 299:1 303:20 304:6,13 309:19</p> <p><b>differentiate</b> 129:8</p> <p><b>difficult</b> 19:4,4 57:19 69:6 96:5 104:2,11 110:15 113:19 215:15 227:4</p> <p><b>difficulties</b> 65:1</p> <p><b>difficulty</b> 101:14 226:22</p> <p><b>digest</b> 313:13</p> <p><b>digital</b> 178:2,3,15 178:20 179:5 183:17 236:16 272:10 293:20 294:1,2,3 297:22 304:3 314:8 315:3</p> <p><b>direct</b> 7:6 10:20</p> <p><b>direction</b> 25:15 221:7</p> <p><b>directly</b> 10:5,11 50:4 51:3 87:20 185:3 197:3 210:9</p> <p><b>director</b> 5:3,6 9:11 12:20 13:3,8 13:12,17,20 14:1 14:4,7,10,14,19 14:21 15:1,3,5,10 15:15,17,21 16:1 42:11 55:14 90:3 122:12,13,16 234:22 250:13</p> <p><b>directors</b> 5:20 16:8 88:6 283:3 284:3 289:14 313:6</p>	<p><b>disability</b> 49:6,8</p> <p><b>disadvantages</b> 11:20 180:20</p> <p><b>disagreement</b> 89:21</p> <p><b>disappeared</b> 82:14</p> <p><b>disappointed</b> 299:19</p> <p><b>discipline</b> 133:19</p> <p><b>disclaimer</b> 137:8</p> <p><b>disclose</b> 234:10</p> <p><b>disclosing</b> 102:8</p> <p><b>disclosure</b> 234:9</p> <p><b>disclosures</b> 158:4</p> <p><b>disconnect</b> 17:22 18:6 21:17</p> <p><b>discouragement</b> 44:3</p> <p><b>discover</b> 41:5</p> <p><b>discoveries</b> 297:2</p> <p><b>discovering</b> 11:8 267:16</p> <p><b>discovery</b> 71:7</p> <p><b>discretion</b> 217:2</p> <p><b>discriminated</b> 159:9</p> <p><b>discuss</b> 20:7 40:13 114:6 134:17 144:17 189:21 190:18 279:1 291:5 295:22</p> <p><b>discussed</b> 61:11 106:21 116:2 148:3 239:19</p> <p><b>discussing</b> 60:12 135:18 182:10 219:1</p> <p><b>discussion</b> 5:11 11:3 12:14 41:1 67:10 80:11 108:2 112:22 120:19,20 127:6 136:21 137:15 146:5</p>
---	--	---	--

147:3 149:14 194:20 216:12 241:3,7 289:11 <b>discussions</b> 96:21 103:20 125:15,17 126:21 144:3,9 180:18 189:1,4 199:2 272:5 296:3 <b>disease</b> 11:9 12:2 31:13,14 33:17 36:5 38:2,17 39:7 39:8 48:10 55:19 56:1,3,14 57:4,9 57:10,14 58:9 59:1 60:15,20 61:1,4 63:3,5,10 65:12,19 71:17 75:7 77:2 78:12 81:22 82:4,19 87:1 88:10 91:21 106:10,15 109:14 119:4 132:13,16 132:22 133:6,7 135:19 136:10 137:22 142:16 144:15 148:12 158:12 159:18 160:5 161:4,5,21 162:14 174:2 178:11,13 179:6 181:14,15 182:8 182:11 185:19 186:2 187:7,8 198:15 200:15 203:6 204:2,4 239:1 241:20 244:17 245:2,21 250:22 251:1 252:15,22 253:1 254:20 255:20 256:4,22 262:5,7 262:12,16 263:5 263:20 264:4,7 269:12 277:13	278:1 280:21 283:10,14 284:21 301:1 302:7,8 303:11 307:12 308:19 310:2 <b>diseases</b> 11:13,18 29:9 38:10,14 42:19 47:18,21 49:19 50:1 53:15 56:9,17,17 57:1,3 57:4,10,13,15,16 57:18 58:1,11,14 58:15,18 59:11 60:6,11,17 63:9 67:3 71:2,3 73:14 75:5 82:21 86:11 91:15 93:17 102:22 104:11 105:2 106:6,13 110:5 112:1,11 113:19 135:22 159:1,12 161:20 162:15,20 182:2 185:11 186:8 229:4 239:20 241:16 242:11 244:3,7,8 245:4 246:22 249:2,14 249:17,19,20 250:2,13,18 251:10 253:11 254:2,9,10,11 255:21 257:6,7,13 263:8 266:5 270:20,22,22 278:2 279:9 281:6 283:14 284:20 297:12 302:12,17 308:16 309:4,4 <b>disliked</b> 58:20 <b>dismantle</b> 212:6 <b>disorder</b> 89:10 <b>disorders</b> 46:11 284:17 285:16	<b>disrupting</b> 138:12 <b>distinction</b> 254:9 <b>distribution</b> 29:21 <b>divergence</b> 88:21 <b>diversified</b> 173:15 <b>diversify</b> 152:11 152:13 <b>diversity</b> 196:18 202:16,20 275:11 <b>divided</b> 68:10 <b>division</b> 5:6,20 10:4,11 12:20 13:4,9,12,12,17 13:20 14:2,4,7,10 14:11,14,14,19,21 15:1,2,3,5,5,10,11 15:15,18,21 16:1 16:8 26:18 54:5 89:15 90:3 105:16 108:12 122:12,16 145:8 183:3 196:3 203:22 223:7 227:6 249:17 250:8,14,19,21 251:1,7,8 256:11 272:4 282:10 283:1,3,21 284:3 289:14 301:6,7,8 312:6 313:6 <b>divisional</b> 19:19 21:11,14,21 22:16 42:15 43:12 44:19 46:3 47:12 53:8 <b>divisions</b> 5:15 10:7 12:5 17:22 18:19,19 20:9 43:13 44:7 47:13 53:21 61:18 62:5 88:1 95:21 127:1 188:22 191:17 194:16 196:3 202:1 219:11 222:1 223:11 229:4,16 234:8	250:11 251:3,10 269:12 270:18 271:5 273:10,16 273:20 282:1 283:16 286:13,21 287:18 293:22 299:2 300:11,21 304:6 311:5 <b>dlts</b> 276:13 <b>dmitri</b> 3:12 <b>dna</b> 38:6 69:2,14 <b>docket</b> 6:1,2,3 9:9 232:14 243:3 269:19 293:7 300:2 313:3,8 <b>docket's</b> 217:21 <b>docs</b> 68:14 <b>doctor</b> 90:15 <b>doctors</b> 197:5 200:7 <b>doctor's</b> 196:22 <b>document</b> 240:11 252:6 301:2,3 <b>documentation</b> 102:18 223:3 <b>documents</b> 46:4 94:14 202:12,13 236:6 <b>doesn't</b> 93:5 130:9 156:16 201:20 209:18 211:9 219:12 <b>doing</b> 27:11 29:7 41:22 42:4 56:2 61:7 62:17 66:9 74:13 80:2 82:8 112:10,12 113:6 114:1 136:3 155:12 197:11 202:17,18 205:10 205:17 227:15 233:11 265:22 268:22 275:17 278:7 285:20
---	--	---	--

299:8	<b>download</b> 149:6 170:4,6	<b>dragos</b> 4:8 14:9,10 37:15 49:17 64:22 101:5,21 102:11	169:14,17,17,18 170:8,11,11,12,20 171:12,16 172:2 173:7 174:3 175:11 176:5 177:15 180:4,8,9 181:5 187:16 191:2 195:6 203:22 208:6,8 209:10 210:11,12 210:19 211:3,17 211:20 215:15 219:8 222:18 223:17 228:13 235:13,20 238:5,7 238:10,16 241:21 257:14 265:20 268:5,8,14,17,20 268:22 269:3,6,13 270:8,11,21 271:8 271:11 277:4,17 281:19 282:11 283:15 284:5 288:4 289:22 295:11,15 304:1,3 308:15 309:9 310:3
<b>dole</b> 210:10	<b>downstream</b> 30:21	<b>dramatically</b> 91:16 93:12,15	<b>drugs</b> 1:6 5:4 11:10 12:5,10 17:5 20:13 22:22 29:3 34:1 69:4,21 70:4 109:1 114:8 117:7,8 120:7 131:22 132:2 146:14 164:16 192:18 213:14 215:10,12,16 220:19 223:3 224:6,14 226:12 229:21 233:12 268:12 269:8 272:22 273:2 275:5 277:13 290:6,21 295:5
<b>dollars</b> 69:7 212:22	<b>dozens</b> 199:11 288:14	<b>draw</b> 177:1	
<b>domain</b> 88:7 151:3 153:18	<b>dq&amp;a</b> 198:10	<b>drawn</b> 239:7	
<b>donation</b> 170:5	<b>dr</b> 5:5 9:10 12:16 12:18 23:9 26:21 35:13 36:12 37:14 39:11 40:16 49:16 52:2 53:5,14 55:10 63:13 64:21 66:3 71:21 77:13 79:12 80:11 81:13 81:14 83:8,14,15 83:19 84:12 87:12 89:5 91:5 99:4 101:4 102:12 109:4 111:1 112:8 113:11 122:6 123:22 125:5,7 130:22 144:21 147:21,21 148:16 165:20 172:13,19 174:19 175:7 181:9 182:14 185:9 199:7 203:18 205:3 206:8 219:2 228:19 230:14 231:20 232:20 233:5 240:15,15 241:11 243:4 244:4 247:11 249:3,16 251:13 251:14,19 254:6 254:15 255:14 279:14 285:7 290:2 300:18 310:9 311:15	<b>drive</b> 133:21 196:8 242:21 273:1	
<b>don't</b> 102:4,5 103:1 109:10 111:12 112:15 113:22 116:17 129:11,12 131:10 131:18 141:11 144:7 145:14 146:17 147:7 149:15 150:13 152:1,12 155:1 156:6 167:11 199:15 206:2 216:3 217:7 222:6 224:20 228:9,12 231:7 233:20 241:6 242:17 293:17	<b>drives</b> 30:17 201:5	<b>driven</b> 74:3 110:16 146:12 220:10 222:9 230:7 231:5,6 266:19	
<b>doodle</b> 67:13 73:2	<b>driving</b> 273:9	<b>drives</b> 30:17 201:5	
<b>door</b> 32:20 181:4	<b>drs</b> 16:10	<b>driving</b> 273:9	
<b>dose</b> 131:13,14 132:3,7,9 137:14 167:1,2,7,7,9,15 167:19 168:2,7,9 168:15 169:11,12 169:17,18 170:12 170:16 171:4 172:4,5,6 195:5 277:1,2 279:16 280:8	<b>drug</b> 1:1,4,12 5:3 5:7,12 7:18 9:19 9:20 10:1,8 11:4,6 11:13 12:1,11,21 13:18 15:7 18:16 19:11 20:5 23:3 28:6,9,10 29:13 29:18 30:2,3,12 30:14,17,19,20 32:16 34:13 35:9 36:10 37:8,12 49:18,21 50:6,9 55:3 61:12 62:10 63:2 71:5,7,9 75:16,17,22 77:22 78:15,20 79:8,10 80:13 93:5,7 97:8 103:9 104:20 115:8 116:13,14 117:14 118:4 120:15 125:1 132:2 146:15 147:9 148:12 149:4,10 166:4,5 166:5,20 168:3,19 168:23 169:2,2,13	<b>drug</b> 1:1,4,12 5:3 5:7,12 7:18 9:19 9:20 10:1,8 11:4,6 11:13 12:1,11,21 13:18 15:7 18:16 19:11 20:5 23:3 28:6,9,10 29:13 29:18 30:2,3,12 30:14,17,19,20 32:16 34:13 35:9 36:10 37:8,12 49:18,21 50:6,9 55:3 61:12 62:10 63:2 71:5,7,9 75:16,17,22 77:22 78:15,20 79:8,10 80:13 93:5,7 97:8 103:9 104:20 115:8 116:13,14 117:14 118:4 120:15 125:1 132:2 146:15 147:9 148:12 149:4,10 166:4,5 166:5,20 168:3,19 168:23 169:2,2,13	
<b>doses</b> 70:11 168:12 170:12,13 171:12	<b>draft</b> 35:2 120:12 232:8 241:21 283:22 289:1	<b>drives</b> 30:17 201:5	
<b>dosing</b> 74:9 80:4 128:7 131:10,12 134:4		<b>driving</b> 273:9	
<b>double</b> 162:5		<b>drs</b> 16:10	

<p>307:13 310:13  <b>drug's</b> 117:10  120:4  <b>drusafe</b> 42:13  <b>duchenne</b> 59:15  60:19  <b>due</b> 49:13 60:6,11  65:11,19,22  170:16 256:2  307:21,22  <b>duke</b> 229:18  <b>duly</b> 314:5  <b>duration</b> 45:9  49:1,4 51:8  <b>dying</b> 81:20  <b>dynamic</b> 239:8  <b>dystrophy</b> 55:15  55:18 59:16,18  60:19</p>	<p>202:14  <b>easiest</b> 110:21  <b>easily</b> 184:5  <b>easy</b> 205:13,20  <b>eat</b> 197:20  <b>eating</b> 147:16  <b>ebola</b> 309:13  <b>echelons</b> 18:7  <b>echo</b> 235:2 268:1  <b>echoing</b> 231:20  <b>ecogenetics</b> 32:2  <b>economic</b> 186:10  208:11 211:10  <b>economically</b>  212:14  <b>economics</b> 209:9  213:5  <b>economist</b> 274:15  <b>ecosystem</b> 18:1  292:4  <b>ed50</b> 167:20  169:20  <b>education</b> 62:15  205:18  <b>effect</b> 30:2 104:15  106:20 156:17  163:8 166:17  167:7,9,15,16,18  167:19 168:1,2,7  168:9,15 169:3,9  172:4 174:8,11  192:3 307:14  <b>effective</b> 1:4 5:12  7:18 9:19,20 11:4  11:6 12:10 70:14  70:15 107:19  136:5 235:12  268:5,14 273:2  283:15 295:11  <b>effectively</b> 17:9  37:12 184:11  <b>effectiveness</b>  65:10 244:16  252:6 268:20</p>	<p><b>effects</b> 70:12  128:17 166:22  <b>efficacious</b> 30:14  93:7 188:7  <b>efficacy</b> 20:18  28:15 29:3,13,19  30:3,20 32:16  45:14 50:14 86:16  105:10 121:4  127:20 168:20  169:1 182:20,21  183:14 187:16  226:2 235:15,19  236:3 240:17  243:7 252:14  <b>efficiencies</b> 117:4  268:19  <b>efficiency</b> 117:12  117:20 160:12,13  163:4 176:4  230:13 233:11  272:13  <b>efficient</b> 37:12  160:20 161:8,19  162:11 166:4  181:6 187:20  190:4 237:4  238:10 269:7,13  273:1 291:19  <b>efficiently</b> 6:7  163:11 164:1  212:13  <b>effort</b> 68:14 77:13  97:12,15 98:22  139:21 229:5,8  230:11 255:8  293:21  <b>efforts</b> 10:8,15  63:16 64:11,12  103:12 114:7,9  121:18 164:3  268:5 269:17  270:1 273:1 286:5  300:4</p>	<p><b>egfr</b> 30:22,22  159:15 160:8  261:1  <b>eight</b> 22:20 56:17  56:18 57:3 162:3  166:8 167:16  277:14  <b>eisd</b> 207:7  <b>either</b> 48:1 75:6  211:19,22 220:22  228:10 246:8  280:20 290:7  <b>elaborate</b> 23:11  27:1 87:16 101:9  111:5 242:2  310:11  <b>electrical</b> 255:10  <b>electronic</b> 31:11  31:16 140:9  193:11 313:11,12  <b>elements</b> 218:22  270:14 295:17  <b>eleven</b> 57:2  <b>eliciting</b> 288:8  <b>eligibility</b> 59:22  60:2 65:12 120:13  <b>eligible</b> 211:16  <b>eliminated</b> 210:17  <b>elliott</b> 91:6 99:12  100:5 101:16  102:4  <b>elliott</b> 2:12 91:4  <b>elucidated</b> 311:8  <b>emails</b> 22:12 73:6  <b>embarking</b> 282:19  285:8  <b>embrace</b> 164:2  <b>embracing</b> 31:20  <b>emerge</b> 221:5  226:19  <b>emerged</b> 144:9  <b>emergence</b> 287:8  289:20</p>
<b>e</b>			
<p><b>eager</b> 61:20  <b>earlier</b> 45:12 64:6  116:22 119:3,19  123:3 125:7 141:1  142:20 144:12  146:5 153:4  155:19 186:7  213:22 225:9  260:4,4 263:3  264:15 268:2  288:7 293:16  298:6 304:5 305:9  309:8  <b>earliest</b> 70:2  <b>early</b> 43:14 69:19  72:16 75:3,5  103:13 104:3,3  107:9 120:15  123:12 149:15  266:9 268:18  277:17 305:2  308:13 311:12  <b>easier</b> 110:17  143:2 147:2</p>			



<p><b>emergent</b> 270:3  <b>emerging</b> 269:9  270:5 283:7  291:22 296:1,14  <b>emily</b> 2:23 197:6  197:22 201:12  <b>emmett</b> 2:21  184:17,18 193:6  194:7  <b>emotional</b> 198:17  <b>emphasize</b> 97:1  <b>emphasizing</b> 59:9  <b>employed</b> 314:11  314:14 315:8,11  <b>employee</b> 314:13  315:10  <b>enable</b> 19:9 45:12  47:12 55:8 162:22  179:12 199:5  221:16 225:7  267:17 299:3  <b>enabled</b> 201:13  203:4  <b>enables</b> 179:13  184:13 200:5  201:14 202:7  <b>enabling</b> 11:10  196:7 285:9  <b>encompasses</b> 92:5  175:4  <b>encourage</b> 11:4  94:11 95:8 96:2  96:13 98:19  109:16 120:11  135:2,14 194:6  198:18 219:3  235:12 240:5  273:1 287:17  301:2,15 310:13  <b>encouraged</b> 56:20  302:8  <b>encourages</b> 294:7  <b>encouraging</b>  12:12 118:20</p>	<p>133:17  <b>endeavor</b> 10:7  87:7 88:6,19  <b>endeavors</b> 163:9  <b>ended</b> 78:14  <b>endocrine</b> 14:17  <b>endorse</b> 66:8  149:2  <b>endorsed</b> 61:14  64:17 143:18  <b>endorsement</b>  164:19  <b>endpoint</b> 34:10  75:11 76:8 115:14  119:8 142:22  150:6,8,15 151:6  151:13,20 152:3  153:15,15 159:6  159:16 160:2  164:7,15 254:13  254:15  <b>endpoints</b> 32:21  32:22 51:1 58:17  58:19 60:9 74:19  74:19 85:19,19  86:8 88:22 119:9  121:15 140:19  141:2,20 150:8  151:14,16,18  159:13,20 160:5,7  164:4 254:10  294:2 297:22  299:4 306:22  <b>ends</b> 312:13  <b>energy</b> 303:2  <b>engage</b> 81:7 96:20  129:11 283:4,16  286:8 289:15  295:21,21 312:10  <b>engaged</b> 9:17  <b>engagement</b> 16:12  34:21 44:5 62:4  93:22 185:13  223:10 288:2</p>	<p>289:16,19 290:20  293:2 296:6 298:6  299:2 304:21  <b>engagements</b>  61:21  <b>engaging</b> 102:6  286:20  <b>engender</b> 163:15  164:9 192:10  <b>england</b> 73:8  <b>english</b> 205:11,19  <b>enhance</b> 18:21  95:8 230:12 240:9  269:7 270:1  <b>enhanced</b> 238:3  270:5 309:21  <b>enhancement</b> 86:7  <b>enjoyed</b> 62:8  <b>enjoying</b> 57:6  <b>enormously</b>  100:19 229:5  230:11  <b>enrichment</b> 65:8  <b>enroll</b> 66:5 177:19  <b>enrolled</b> 246:4  <b>enrolling</b> 66:8  246:1  <b>enrollment</b> 73:17  275:4  <b>ensure</b> 47:4 49:20  61:11 64:15 117:5  118:17 119:12  120:14 123:13  175:14 239:6,14  269:1 272:7,17  <b>ensured</b> 79:7,7  <b>ensures</b> 186:13  <b>ensuring</b> 50:10  298:8  <b>entails</b> 131:7  <b>enter</b> 178:5  <b>enthusiasm</b> 17:7  <b>enthusiastically</b>  138:20</p>	<p><b>entire</b> 58:6,7 79:4  168:7,9 170:18  174:4 175:4  197:11 234:13  292:4  <b>entities</b> 91:19  118:14 280:21  <b>entry</b> 209:21  210:8 212:2  <b>envelope</b> 119:2  <b>environment</b> 17:9  19:15 139:2 146:1  147:11 164:12  236:9,14  <b>envision</b> 89:13,16  <b>envy</b> 195:11  <b>enzyme</b> 167:10  <b>epidemic</b> 195:3  309:9  <b>epidermolysis</b>  245:18 289:1  <b>epiphany</b> 85:2,8  <b>equal</b> 166:21  167:7 169:8 186:2  <b>equally</b> 95:4 278:2  <b>equals</b> 21:1  <b>equation</b> 166:17  166:20 167:2,4,10  167:13,14 168:1  168:20 169:4,5  174:9,11,12  <b>equational</b> 167:12  <b>equations</b> 166:13  166:16 174:10  <b>equipment</b> 140:12  <b>er</b> 84:9 201:8  <b>era</b> 285:3  <b>eric</b> 3:20 14:22,22  26:22 52:3,16  66:4 111:2 148:18  <b>error</b> 239:17  245:11 306:22  <b>errors</b> 14:12 43:1  250:20</p>
---	--	--	---

<p><b>es</b> 314:4</p> <p><b>escalation</b> 277:1</p> <p><b>esham</b> 3:10 291:17,21 301:11 302:13,20</p> <p><b>especially</b> 84:14 140:11 142:7 168:10 176:3 183:2 189:3 196:11 200:19 236:13 238:4</p> <p><b>essence</b> 63:18 85:5</p> <p><b>essential</b> 27:16 45:16 213:4</p> <p><b>essentially</b> 41:19</p> <p><b>establish</b> 41:6 73:16 74:6,8 105:3,9 186:18 201:9,22 213:8</p> <p><b>established</b> 141:20 190:21 286:15</p> <p><b>establishing</b> 34:16 104:22 110:11 285:9</p> <p><b>establishment</b> 269:5</p> <p><b>estimate</b> 156:17</p> <p><b>estimated</b> 201:6 267:21</p> <p><b>estimation</b> 56:15</p> <p><b>et</b> 188:13 194:11 204:14 225:17 304:3</p> <p><b>eteplirsen</b> 70:7</p> <p><b>eth</b> 139:9</p> <p><b>ethical</b> 214:14</p> <p><b>ethics</b> 214:13</p> <p><b>europe</b> 210:18</p> <p><b>evaluate</b> 31:17 101:19 179:22 239:16 277:6</p> <p><b>evaluated</b> 99:8</p>	<p><b>evaluating</b> 38:4 95:11 191:21</p> <p><b>evaluation</b> 29:3 97:22 98:14 166:4 176:16 191:3 194:4 295:5</p> <p><b>evenly</b> 68:10</p> <p><b>event</b> 151:21 152:2 260:5</p> <p><b>events</b> 34:9 36:6 177:18 183:12 192:18 200:13 260:8</p> <p><b>eventually</b> 35:2 118:12 143:20</p> <p><b>everybody</b> 55:13 198:8 244:12 250:18</p> <p><b>everybody's</b> 18:11 20:22</p> <p><b>everylife</b> 244:2,8 251:14</p> <p><b>evidence</b> 10:19 18:22 38:18 92:7 92:8 105:1,5 119:12 121:11 127:20 158:6 160:9,12,20 161:9 162:11,17,18 163:1,4,11 190:5 190:7 193:11,13 193:17 210:22 224:9 236:16 244:16 251:18 252:2,4,6,11 253:9 256:21 257:11 258:2 265:7 294:8 295:10,15,16 297:21 298:17</p> <p><b>evolution</b> 201:13 257:12</p> <p><b>evolve</b> 189:19 259:19 261:15,17</p>	<p><b>evolved</b> 30:8 252:13,17</p> <p><b>evolving</b> 17:10 268:6 296:1,4</p> <p><b>exact</b> 126:15</p> <p><b>exactly</b> 25:5 41:22 78:10 83:18 133:14 142:10 147:3 288:19 300:22</p> <p><b>examine</b> 260:7</p> <p><b>examining</b> 261:2</p> <p><b>example</b> 24:7 29:19,21 30:15,20 32:6 33:9 36:3,11 38:2,15,21 39:2,8 39:15 45:7 48:12 49:4 50:19 51:13 51:17 53:15,19 54:4,15 57:9,16 71:15 76:9 89:8 92:11 97:2 98:6 99:13,17 103:10 103:13 106:5,12 110:7,19 116:3 122:15 127:22 134:4 137:21 138:1,22 140:9 141:3,6,16 142:16 143:3 150:16,21 152:2,6 153:3,14 153:18 155:21 156:7 169:13,20 170:8 173:8 179:4 181:11 183:17 190:6 192:17 204:8 212:5 222:16 225:15 226:1,22 227:9 238:21 239:2 249:7,9 260:20 261:14 266:17 270:21 275:19 276:8 277:12</p>	<p>281:6,7 283:20 285:15 297:5 299:21 304:6 306:21 307:1 308:3,20 311:20 312:6</p> <p><b>examples</b> 29:17 30:4,10,11 32:1 47:3 70:7 94:13 100:20 129:7 134:10 151:1,2 187:22 192:16 193:1 194:18 210:6 226:19 238:18 248:18 253:13 276:8 288:21 302:15</p> <p><b>exams</b> 140:12</p> <p><b>exceeded</b> 212:6</p> <p><b>excellence</b> 249:18 250:5,7</p> <p><b>excellent</b> 249:3</p> <p><b>excited</b> 5:19 59:1 59:12,19 63:21</p> <p><b>excitement</b> 72:12</p> <p><b>exciting</b> 21:13,19 127:11,12 206:18 207:5 297:6</p> <p><b>exclusion</b> 264:16 264:17 266:18</p> <p><b>exclusive</b> 208:19 210:6 273:21</p> <p><b>exclusivity</b> 208:8 208:17,20 210:19 211:17 212:4,7 225:20 243:8</p> <p><b>excretion</b> 29:21</p> <p><b>excursions</b> 200:12 200:19</p> <p><b>excuse</b> 137:17</p> <p><b>executive</b> 42:11 291:22</p> <p><b>exemplary</b> 102:22 103:5</p>
---	---	--	--

<b>exemplified</b> 114:12	221:20 270:7	129:12,15,16 272:16	245:15 247:5 248:11 249:9
<b>exempts</b> 311:21	<b>expected</b> 88:15 210:1 217:13	<b>experts</b> 87:17 88:7 89:22 95:6 285:1	271:6 283:16 287:7 289:16
<b>exercise</b> 250:10 262:3	<b>expedite</b> 43:18 270:8	<b>explained</b> 12:6 82:17 83:20	295:13
<b>exercises</b> 96:6	<b>expedited</b> 223:19 271:3	<b>explaining</b> 247:12	<b>externally</b> 63:1 220:2 288:16
<b>exhaustive</b> 30:11	<b>expense</b> 209:20	<b>explanation</b> 80:12	289:2 299:12
<b>exist</b> 90:11 282:16 286:10	<b>expensive</b> 71:10 171:14	<b>explicitly</b> 209:22	<b>extrapolate</b> 111:7
<b>existing</b> 12:12 43:2,17 46:3	<b>experience</b> 25:12 55:4 71:22 81:10	<b>explore</b> 109:17	<b>extrapolating</b> 86:15 183:4
96:18 190:11	97:14 100:15	<b>explored</b> 65:14	<b>extrapolation</b> 238:20 271:5
217:15 242:18	116:3 130:11	<b>exploring</b> 256:19 263:10	307:11
286:9 287:18	140:14 142:2	<b>expose</b> 248:4	<b>extremely</b> 58:4 82:1,9
289:19	148:10 151:13,15	<b>exposed</b> 139:1	
<b>exists</b> 187:1 207:9 308:12	151:16,19,22	<b>exposure</b> 130:4	<b>f</b>
<b>exome</b> 41:14,16 42:1	156:19 198:1	<b>exposures</b> 238:13	<b>fabulous</b> 185:10
<b>exon</b> 70:6	219:21 222:5,14	<b>expressed</b> 26:2	<b>face</b> 12:11 177:3
<b>expand</b> 89:7 197:9 219:4 227:14	222:18,22 223:5	<b>expresses</b> 252:14	<b>faced</b> 78:3
248:14 254:11	229:14 246:4	<b>expressing</b> 253:16	<b>faces</b> 177:2
287:20	253:10 270:18	<b>expression</b> 106:14	<b>facilitate</b> 9:18 11:13 44:9,21
<b>expanded</b> 60:1 65:14 249:6	274:8 280:17	<b>extend</b> 45:9 51:4 71:3 72:13,20	54:14 107:10,11
<b>expanding</b> 197:13 220:5 232:22	286:16,18 288:5,8	132:1	108:13 164:17
248:7 287:18	288:22	<b>extended</b> 95:14 119:6	180:17 206:16
<b>expands</b> 118:11	<b>experienced</b> 12:3 188:21 285:14	<b>extending</b> 11:20 70:16	236:20 268:5 270:10
<b>expansion</b> 118:10 277:3	<b>experiences</b> 233:1 240:19 288:3	<b>extension</b> 208:16 212:17,21 217:5,5	<b>facilitated</b> 46:8
<b>expect</b> 5:17 59:5 100:14 131:8	309:13 310:1	<b>extensive</b> 58:1 60:15 137:15	<b>facilitates</b> 43:3
175:15	<b>experiencing</b> 45:11 310:17	140:14	<b>facilitating</b> 108:1 115:6,7 125:8
<b>expectancy</b> 49:1,1 49:3	<b>experiment</b> 214:19	<b>extensively</b> 265:9	196:18
<b>expectation</b> 32:18 192:12	<b>experimental</b> 246:15	<b>extent</b> 105:14 126:20 184:2	<b>facing</b> 114:13 220:2 287:7
<b>expectations</b> 17:11 44:8 53:22	<b>experimentation</b> 133:18 192:11	229:2 301:3	<b>fact</b> 10:18 33:15 34:6 46:21 82:4
54:9,12,21 82:8	<b>expert</b> 20:21 35:1 88:10,10 102:6	<b>external</b> 95:12 121:7 127:22	82:17 91:15 92:2 101:11 114:14
83:4,6 187:20	250:16 312:12	163:6 177:21	129:2 130:19
192:12 219:15	<b>expertise</b> 20:19 88:22 89:14,19	237:20 238:15	132:4 159:7,15,17
	90:4 95:1 129:10	239:8,13,19 240:6	159:22 215:1
		241:14 242:10	<b>facto</b> 160:1,2
		244:10,10,19,20	

<b>factor</b> 310:11,20	<b>faster</b> 42:2 50:8	219:10 220:19	116:10,17,21
<b>factors</b> 47:20 48:3	143:2 144:5 275:4	222:3,17,21 223:3	122:9,9,14 123:3
48:7,13 49:8	312:11	223:6,16 224:1,4	124:4,7 125:22
297:15 304:13	<b>fatal</b> 77:2	224:14 225:1,3,16	126:7 180:14
305:1 312:12	<b>fda</b> 6:3 7:4 9:10,17	225:18 226:3,15	270:11 271:2
<b>faculty</b> 67:20	11:22 17:8 18:1	226:16 227:13,14	283:17 286:12
<b>fading</b> 303:2	18:10,16 19:3,9	228:8 229:21	304:22 305:1
<b>failure</b> 43:2 69:20	20:15,20,22 22:2	234:5 235:15,18	311:12
69:20 185:17	22:20 27:15 29:2	235:19 236:10,21	<b>feedbacks</b> 270:14
260:12 263:18	29:14 34:15,22	237:1,9 239:20	<b>feel</b> 25:11 53:13
266:13	35:5 36:15 43:17	240:2 249:8	95:13 100:21
<b>fair</b> 181:13 234:1	44:4,12,19 46:10	252:13,21 253:2,3	140:20 151:9
<b>fairly</b> 132:5 135:8	54:13 59:7 60:14	253:10 254:2	190:10 197:8
<b>faith</b> 17:22 18:4	61:7,14 62:9,17	268:10,21 269:1,8	236:7,20 238:9,14
<b>fall</b> 213:17 284:6	62:18 64:18 65:14	269:22,22 270:4	240:1 283:10
<b>falling</b> 213:18	67:7 74:10,16	270:10 271:1,10	<b>feels</b> 301:6
<b>familial</b> 39:1	79:3,4 80:8,8 81:7	271:16,20 272:5	<b>felt</b> 53:16 186:4
<b>familiar</b> 139:17	83:19 84:9,10,20	272:16,19 279:20	262:11
143:8 251:8 292:2	87:17 91:20 93:20	279:20 283:10	<b>fence</b> 165:3
<b>familiarity</b> 143:12	98:4 100:1 101:6	286:15 290:12,19	<b>fewer</b> 179:12
<b>familiarize</b> 142:9	102:15 103:12	295:22 296:21	212:11 237:2
<b>families</b> 29:9	108:16,21 112:12	298:4 303:17	<b>fi</b> 7:7
<b>family</b> 29:9 57:5	112:22 114:21	305:1,6,16,18	<b>field</b> 11:8 29:11
78:22 79:13 81:6	115:20 116:22	308:6 310:6	30:8 68:19 69:8
82:9	117:1,10 120:6	<b>fda's</b> 1:5 17:4 23:3	70:22 72:1 75:13
<b>fantastic</b> 205:11	121:18 125:11,16	304:16	100:18 103:4,8
<b>far</b> 46:2 48:6,19	136:19 141:18	<b>fda's</b> 10:3 114:13	104:21 105:22
63:17 110:5	142:10 143:3,17	115:9 118:21	113:6 135:21
145:14 146:3	143:18 144:3,13	120:12 164:4	197:15 202:16
220:6 290:11	144:18 146:19	180:9 197:7	<b>fields</b> 136:2
293:6	149:1,6,16 160:6	219:15 221:20	<b>fifteen</b> 6:13,14
<b>farchione</b> 3:22	163:13 171:2	<b>feasibility</b> 189:6	8:18 9:1
14:18,18 89:5,6	172:15 175:13	189:15 190:1	<b>fifth</b> 219:18
109:4,5 181:9,10	180:20 184:18,22	193:14 211:10	<b>figure</b> 37:11
181:20	185:1 186:16	<b>feasible</b> 272:8	147:17 231:10
<b>farkas</b> 1:17 314:2	188:3,17 189:14	<b>feature</b> 159:5	<b>figuring</b> 222:11
314:18	189:20 190:21	<b>features</b> 12:6	<b>filed</b> 225:19
<b>farrell</b> 4:3 15:2,2	191:8 192:10,16	39:21 48:10 99:21	<b>fill</b> 250:6
135:17	194:10 195:13	100:3 158:18	<b>filter</b> 264:17
<b>fascinated</b> 87:15	197:9 201:9,13,19	245:21 248:2	<b>final</b> 112:7 125:21
<b>fashion</b> 84:21	201:21,22 202:16	<b>federal</b> 27:4,5	155:14 156:1,4,8
116:10	203:4,9 204:19	313:9	156:10 232:19,21
<b>fast</b> 71:6	205:6 208:6 217:6	<b>feedback</b> 23:19	258:19 294:12
	217:9 218:6 219:3	24:16 94:16,16	

<b>finalization</b> 60:18	211:5 212:18	<b>flew</b> 302:3	258:13
<b>finalized</b> 61:9	215:11 218:7	<b>flexibility</b> 43:18	<b>following</b> 7:15
<b>finalizing</b> 295:3	226:10 227:13	45:5 219:9 273:7	16:7 77:12 103:17
<b>finally</b> 62:6 63:5	228:14 235:2,10	<b>flexible</b> 22:4,10	112:9 125:6
96:4 134:15 137:5	235:10,14 241:17	24:4 59:10 153:2	192:15 225:20
176:15 180:16	242:8,8 243:17	155:17 187:1,5	256:17 257:3
187:18 190:2,18	244:1,19 266:8	194:8 273:17	<b>follows</b> 105:21
192:2 228:10	282:8 312:4	301:14 303:6	<b>followup</b> 241:18
288:1 307:17	<b>firstly</b> 158:20	<b>flip</b> 207:21	<b>food</b> 1:1,12 7:3
309:6	163:13	<b>flipped</b> 137:13,18	137:10 204:18,22
<b>financially</b> 314:15	<b>fisher</b> 2:20 175:7	145:1	205:1
315:11	175:8,17 181:19	<b>fluid</b> 111:14	<b>foot</b> 141:4
<b>find</b> 57:13 91:18	181:22 182:22	<b>focal</b> 159:17	<b>force</b> 214:19
98:3 111:22	184:4,16	<b>focus</b> 10:21 28:15	<b>forced</b> 214:2
112:21,21 132:7	<b>fisher's</b> 152:21	37:6 45:4,15	<b>foregoing</b> 314:3,4
275:12 279:1	157:9	85:21 115:5	315:4
287:3 304:7,12,20	<b>fit</b> 193:19 287:4	118:19 120:20	<b>forehead</b> 59:6
305:15 308:21	<b>fitbits</b> 141:10	144:8 161:12	<b>foremost</b> 9:16
311:11	<b>fitness</b> 141:11	163:18 195:21	<b>form</b> 30:1 78:12
<b>finding</b> 34:12,12	<b>fitts</b> 2:23 197:6,22	198:16,19 206:1	112:16 121:20
95:16 107:6	201:12	256:7 274:9 285:1	238:11 283:8
110:10 132:9	<b>five</b> 21:3,6 56:15	296:15	<b>formal</b> 35:5 94:6,8
161:11 168:5	77:8 99:21 107:3	<b>focused</b> 10:20	117:1 123:5,6
178:17 210:13	119:7,14 171:14	37:22 42:1 43:4	155:19 194:14
217:14 279:16	190:19 207:9	56:1 62:10 63:2	201:20
<b>findings</b> 33:11	210:19 237:9	85:9 135:19	<b>formally</b> 150:11
151:4 246:19	247:21 248:19	147:20 148:9	306:14
<b>fine</b> 81:1 264:13	275:8	218:16 236:21	<b>format</b> 227:2,3
302:2	<b>fix</b> 18:13 81:1	295:15 298:6	<b>formation</b> 35:1
<b>finish</b> 7:10	<b>fixed</b> 20:15 132:9	<b>focusing</b> 55:20	113:17
<b>firm</b> 94:5	<b>flag</b> 99:21	102:20 107:13	<b>former</b> 84:9 203:7
<b>first</b> 8:13,17 9:16	<b>flagged</b> 216:17	182:20 240:11	<b>forming</b> 96:18
16:15,20 21:1	<b>flanagan</b> 2:3 5:1,2	289:12 309:5	238:5
28:7 45:7 50:21	9:16 12:14,16	<b>foi</b> 236:4	<b>forms</b> 115:12
54:7 67:2,16	16:3 90:18 99:4	<b>foia</b> 227:10	136:6
68:20 72:9 73:16	99:19 101:3	<b>fold</b> 170:16	<b>formula</b> 168:23
75:13,14,15 78:20	125:21 126:6	<b>folds</b> 172:5	<b>forth</b> 19:2 68:13
80:3 86:6 90:20	165:6,12 175:7	<b>folks</b> 266:11 301:2	72:5 74:8 258:19
91:4 93:22 114:9	216:11,21 217:20	301:17	261:16
115:5 139:21	218:1 231:19	<b>follow</b> 6:10 52:13	<b>fortunate</b> 160:17
165:14,20 166:17	243:11 244:5	89:7 141:16	215:17
176:9 182:1,22	254:6 312:21	172:10,11 262:21	<b>forum</b> 135:18
186:15 189:9	<b>flattening</b> 289:12	<b>followed</b> 8:20,22	144:17 184:21
208:5 210:19		75:17 145:10	218:6

<p><b>forums</b> 134:16  <b>forward</b> 12:13  18:12 25:14,15  62:1 65:9 76:5  96:6 98:12 100:6  100:21 104:20  108:14,20 123:6  133:19 165:4  167:11,17 169:5,6  169:6,7,7 192:20  219:19 223:13  228:14 229:8  231:8 242:13  258:10 272:20  300:1,14 301:21  309:10 310:3  <b>forwarded</b> 214:9  <b>foster</b> 68:3 188:5  <b>found</b> 134:20  229:21 309:9  <b>foundation</b> 46:4  53:1 194:22 222:7  223:12 244:3,8  258:3  <b>foundation's</b>  251:14  <b>foundational</b>  176:6  <b>founded</b> 68:2  <b>founder</b> 67:17  175:17  <b>four</b> 7:21 8:14 9:1  56:19 170:12  171:14 192:16  274:18 282:6  <b>fourth</b> 225:5  <b>fr</b> 229:20  <b>fraction</b> 167:6,6  <b>fragmented</b>  275:12  <b>framework</b> 52:9  52:14,19 53:3  177:13 180:3</p>	<p><b>frameworks</b>  104:22 191:7  289:19  <b>francisco</b> 175:18  175:21  <b>frank</b> 3:5 244:2,3  244:4 254:14  255:3,6,17 256:14  <b>frankly</b> 89:22  267:2  <b>fred</b> 256:15  <b>frederick</b> 3:6  256:15 266:1  267:5  <b>free</b> 22:17 170:4  <b>freedom</b> 237:6  <b>frequency</b> 159:1  <b>frequent</b> 94:8 97:8  145:19  <b>frequentist's</b>  131:5  <b>frequently</b> 290:5  <b>friend</b> 138:10  147:4  <b>friendly</b> 202:14  205:8  <b>friends</b> 108:10,12  114:17 201:2  215:12  <b>frightening</b> 201:1  <b>front</b> 5:16 59:5  100:4 101:17  176:22 203:5,6  231:10  <b>fruit</b> 291:4  <b>fruition</b> 60:18  <b>frustration</b> 109:19  <b>ftc</b> 213:21 214:2  <b>fulfilling</b> 97:16  <b>full</b> 6:4 24:3 61:9  105:14 116:20  269:16 313:7  <b>fully</b> 6:18 61:17  61:21 111:15</p>	<p>140:7 188:12  311:8  <b>function</b> 18:20,20  33:11,17 260:18  261:2,4,9,11  <b>functional</b> 33:13  49:7  <b>functioning</b> 31:19  <b>functions</b> 5:19  192:2  <b>fund</b> 27:7 211:18  212:8,10 217:10  <b>fundamental</b>  89:21 173:17  174:5  <b>funders</b> 55:22  284:21  <b>funding</b> 27:4,5,6  68:15 210:8  285:10 287:13  <b>further</b> 5:18 25:21  59:21 86:7 112:5  137:17 169:6,6  219:4 220:7 221:2  263:3 270:7  271:13 283:4  284:18 289:15  295:21 311:1  314:13 315:9  <b>furthermore</b>  259:18  <b>fusion</b> 30:16  <b>futility</b> 92:18  <b>future</b> 79:17 81:9  81:11 111:21  135:3 144:19  162:21 220:15  258:11 268:17  269:9 304:2  <b>futuristic</b> 308:11  <b>fuzzy</b> 122:10  300:21</p>	<p><b>g</b>  <b>gain</b> 236:9  <b>game</b> 88:15  <b>games</b> 130:4,5,6  <b>ganju</b> 2:18 149:22  150:1  <b>gapmers</b> 70:3  <b>gastric</b> 277:10  <b>gastroenterology</b>  14:11  <b>gastrointestinal</b>  250:19  <b>gate</b> 80:9  <b>gateway</b> 287:20  <b>gather</b> 231:21  <b>gathered</b> 231:2  <b>gathers</b> 63:8  <b>gaucher</b> 132:13  <b>gcp</b> 140:6,15  <b>geared</b> 193:4  241:15  <b>geisinger</b> 32:3  <b>gene</b> 30:2,16  31:19 33:12 50:22  69:15 174:3  213:14,19 260:15  260:17 263:16,19  <b>general</b> 21:4 37:6  38:22 40:4 51:10  55:6 86:21 131:19  132:21,21 136:5  138:5 146:10  166:18 173:19,22  194:6 195:11  208:22 270:9  283:13  <b>generally</b> 25:12  54:11 159:8 164:5  193:5  <b>generate</b> 10:1 31:4  66:18 71:13  162:16,18 163:10  170:13,14 178:14  225:13</p>
---	---	--	---

<p><b>generated</b> 39:18 65:18 211:7 213:7</p> <p><b>generating</b> 20:2 24:22 37:7 72:5 76:10 160:20 161:8 190:5 191:15 211:8 257:11 305:5</p> <p><b>generation</b> 70:10 158:3,6 160:9,13 162:11 163:5</p> <p><b>generous</b> 185:4</p> <p><b>genes</b> 29:20 30:19</p> <p><b>genetic</b> 29:1,12 30:12 31:6,12 34:3 35:16 40:6 41:10 57:11 58:3 58:5 69:13 71:11 71:17 111:14,22 112:10 142:21 259:4 261:22 262:14,18,21 263:22 264:12 281:10</p> <p><b>genetics</b> 32:2,7,10 34:7 37:21 39:20 41:16 109:9 249:18 274:20</p> <p><b>geneva</b> 208:4</p> <p><b>genitourinary</b> 15:19</p> <p><b>genome</b> 29:7 41:15,19 42:1 111:9,11</p> <p><b>genomic</b> 29:1 31:5 34:17 63:8 112:6</p> <p><b>genomics</b> 28:5,8 28:10,20 29:4,5 29:18 30:9 32:14 32:18 34:12,12 35:6,10 36:1 37:16,20 38:5 39:14 40:4</p>	<p><b>genotype</b> 35:21 36:8,10 263:15</p> <p><b>genotypes</b> 33:22 266:14</p> <p><b>gentlemen</b> 136:19</p> <p><b>geographic</b> 145:4</p> <p><b>geography</b> 264:21</p> <p><b>george</b> 157:20</p> <p><b>getting</b> 6:5 55:16 60:7 64:15 68:21 73:6 76:12 78:1 88:16 99:6 111:10 135:9 144:11 197:4 205:15 215:22 221:3 233:12 275:5 291:10 303:1 312:5,14</p> <p><b>gi</b> 15:16</p> <p><b>girl</b> 71:17 79:14</p> <p><b>give</b> 21:6 24:6 32:5 33:9 53:15 54:21 77:15 122:11 133:4 138:1 150:19 153:5,6 154:15 155:3 162:9 199:15 208:16,19 208:20 209:19 210:5 212:1 219:16 229:7 233:21 254:2 259:13 280:6,6 302:2 303:8 308:22</p> <p><b>given</b> 50:17 82:21 88:6,10,18 122:14 129:21 135:21 151:6 163:15 185:5 187:6 191:8 262:12 281:2 301:1</p> <p><b>gives</b> 41:19 153:2 153:10,19 154:10</p>	<p>155:8 258:6 259:15 266:9</p> <p><b>giving</b> 53:18 91:7 136:19 163:13 306:6</p> <p><b>glad</b> 127:13</p> <p><b>glaxo</b> 244:9 249:1</p> <p><b>glaxosmithkline</b> 235:1</p> <p><b>glean</b> 310:1</p> <p><b>gleevec</b> 211:5</p> <p><b>global</b> 35:7 44:14 44:21 91:5 96:4 124:9 136:17 145:17 163:19 164:2 254:19</p> <p><b>glomerulosclero...</b> 159:18</p> <p><b>glucagon</b> 197:21 205:12</p> <p><b>glucose</b> 198:5 201:15,16</p> <p><b>go</b> 6:5 49:18 50:21 55:4 64:4 70:1,22 86:16 88:13,21 89:22 90:5 108:5 126:16 127:14 150:16 163:17 172:15 184:22 199:13 220:6 221:7 225:11 230:3,19,22 232:20 236:4 237:15 251:2,12 257:11 258:8,21 260:7 274:10 277:2 281:10 285:20 286:9 300:17 305:20 312:11</p> <p><b>goal</b> 158:10,10 309:15</p> <p><b>goals</b> 27:8</p>	<p><b>god</b> 90:12</p> <p><b>goes</b> 76:5 123:6 273:15</p> <p><b>going</b> 10:21 25:16 46:20 50:4,8 51:3 51:22 53:6 54:8 54:21 55:6 59:1 60:5 62:3 65:17 67:2,5 69:17 70:20 71:15 72:10 72:18 73:1,4,18 74:4,12 76:1,10 76:14 77:7 78:10 78:16 80:22 82:12 83:9 90:15,19 114:8 118:19 132:14 133:13,13 133:14,15,18 134:7,7 135:9 139:11 147:17 150:14 152:20 153:3 155:1 165:13 172:20 175:9 185:6 198:15 208:4 216:3 217:8 218:13,22 221:7 230:21 231:8 232:16 233:6 235:7,8 242:13,21 243:13 244:10 245:2 247:2 251:6 252:18 253:14 256:17 259:20 265:6 266:8 291:19 293:6 298:3,13 299:13 299:14,19 303:3,6 307:19 309:12</p> <p><b>gold</b> 245:12</p> <p><b>good</b> 5:1 9:14 13:19,22 14:6,9 14:16,20,22 15:22 17:1 18:4 25:7</p>
--	--	---	--

<p>27:22 38:12 53:1 53:3 55:13 56:12 56:12 70:7 81:12 81:14 84:8 87:13 91:14 98:6 100:21 102:14 126:14 127:11 136:14 142:14 146:20,21 147:9 165:22 175:8 176:13 184:17 194:21 204:21 208:12 216:15 229:10 234:21 240:8 244:9 249:9 267:9 274:2 281:7,15 288:12 302:21 304:18 <b>google</b> 224:15 <b>gormley</b> 3:19 15:9 15:10 123:22 124:1 <b>gosh</b> 205:9 <b>government</b> 204:20 208:18 210:20 217:10 <b>gp</b> 138:10,16,19 139:15 140:5,6,16 147:4 <b>gps</b> 139:17,20 <b>gp's</b> 138:2 141:17 143:13 <b>grant</b> 286:21 287:13 <b>granted</b> 205:14 <b>granular</b> 100:3 221:21 <b>granularity</b> 227:5 303:8 <b>grateful</b> 78:22 202:11 <b>gratitude</b> 196:2 <b>graveyard</b> 103:8</p>	<p><b>great</b> 1:14 74:18 81:2 96:12 228:16 249:3,5 265:9 286:14 288:7,21 297:4,6 298:20 299:21 307:4 <b>greater</b> 18:17 20:4 21:15 23:19 45:4 163:3 166:22 169:10 198:16 237:2 269:2 286:7 289:16 296:18 <b>greatest</b> 265:19 <b>greatly</b> 46:7 80:1 198:18 310:21 <b>green</b> 262:10 <b>gritty</b> 88:16 <b>ground</b> 8:1 <b>group</b> 42:14 71:9 118:1 125:11 155:11 156:14 157:22,22 183:21 283:17 305:1 307:5 <b>groups</b> 34:22 35:1 115:2 128:1 146:12 286:22 287:10 290:4 291:1,5 303:15,16 <b>grow</b> 31:8 <b>growing</b> 31:21 <b>growth</b> 31:9 182:17 225:8 <b>gsk</b> 32:1 <b>guardians</b> 90:10 <b>guards</b> 80:9 <b>guess</b> 17:12 57:5 89:10 127:1 231:12 233:7 254:10 265:18 <b>guidance</b> 11:3 35:5 42:15 43:2 43:12 44:12,19 46:3,3,13,14,20</p>	<p>47:5,12 60:15 94:20,21 103:19 112:5 119:22 120:13 121:12 166:4 183:3 185:13 186:5,19 187:18,22 188:1,3 188:19 192:16 202:12,13,16 219:17,21 232:8 232:11 239:22 240:2,11 241:22 242:3,4 249:2 252:6 270:7 283:20,22 285:13 285:15,17,19 286:3,6 288:8,12 289:2,3 292:19,21 292:22 293:18,19 294:4,7,13,20,20 295:1,2,4,9,10,18 295:19 296:19 298:16,19 312:5 <b>guidances</b> 12:1,4 35:2 43:17 60:18 60:21 61:4,8,10 61:15,16 108:18 115:9 239:20 241:19 242:18 273:16,18 283:15 288:9 <b>guidance's</b> 105:7 <b>guideline</b> 44:20 46:5,7,10 103:14 105:9 <b>gustafson</b> 3:4 234:21,22 241:1 242:7 <b>guys</b> 83:17 146:13 195:13 218:7 <b>gyn</b> 15:19</p>	<p><b>h</b> <b>hackathons</b> 134:21 <b>half</b> 32:10 161:7 257:20 261:11 263:3 <b>hallmark</b> 106:12 106:15 110:17 112:22 <b>halt</b> 75:6 <b>hamilton</b> 2:6 27:22 28:2 35:19 36:19 37:4,19 38:11 40:1,21 41:13 <b>hampshire</b> 1:15 <b>hand</b> 5:5 126:6,9 151:15 193:16,19 <b>handful</b> 58:6 60:17 <b>handle</b> 15:6,12,16 195:9 <b>handled</b> 311:19 <b>handles</b> 15:18 <b>hands</b> 92:11 109:2 193:22 <b>hanging</b> 291:4 <b>happen</b> 17:15 21:14 22:17 55:2 78:1 83:9 89:11 137:16 143:21 145:14 178:6 189:4 196:13 204:20 304:22 <b>happened</b> 22:8 77:10 78:13 199:16 <b>happening</b> 61:5 63:21 204:19 297:7 <b>happens</b> 27:17 130:8 154:16 309:14</p>
---	--	--	---



<p><b>happy</b> 99:17 144:18 312:15,18</p> <p><b>harbor</b> 30:13</p> <p><b>harbored</b> 33:17</p> <p><b>hard</b> 20:11 85:8 109:11 146:6 206:5 213:8 215:22</p> <p><b>harm</b> 311:4,8</p> <p><b>harmonization</b> 188:4 196:2 201:22</p> <p><b>harmonize</b> 35:7</p> <p><b>harmonized</b> 44:20</p> <p><b>harpreet</b> 3:17 13:11,11 63:14</p> <p><b>harsh</b> 17:16</p> <p><b>harvesting</b> 113:7</p> <p><b>hasn't</b> 196:14 206:13</p> <p><b>hasselbalch</b> 167:12</p> <p><b>haven't</b> 6:8 113:16 128:7</p> <p><b>hazard</b> 152:4</p> <p><b>head</b> 13:13 28:3 184:19 218:11 277:10</p> <p><b>headed</b> 80:13</p> <p><b>heading</b> 102:17</p> <p><b>heal</b> 297:5</p> <p><b>health</b> 14:8 31:11 31:16 38:20 49:10 91:14,17 96:15 140:9 193:11 198:16 200:13 201:4 203:2,3 214:1</p> <p><b>healthcare</b> 18:1 32:4 45:22 49:12 85:16 197:12 201:6 202:5 203:20</p>	<p><b>healthier</b> 267:17</p> <p><b>healthy</b> 77:5 203:1 203:10</p> <p><b>hear</b> 8:21 10:11 11:1,12 12:3 52:7 71:16 235:4 244:9 293:10 302:7 310:6</p> <p><b>heard</b> 9:16 24:1 75:20 113:13,16 122:8 177:19 195:19 196:6,17 200:16 222:2 223:21 228:22 234:4 236:17 271:19 273:6,7 275:10 278:12 282:8,13 285:16 303:4 308:9 309:7</p> <p><b>hearing</b> 61:19 64:8 123:18 285:7 301:10</p> <p><b>heart</b> 33:16 36:5 43:1 185:17 195:7 263:17</p> <p><b>heat</b> 20:3</p> <p><b>heavily</b> 97:20 100:11</p> <p><b>held</b> 26:14,15 158:9 192:12</p> <p><b>hello</b> 15:9</p> <p><b>helmsley</b> 207:15</p> <p><b>help</b> 6:6,12 7:5 18:20 33:6 73:10 83:2 100:11 101:7 101:19 114:7 115:11 116:22 119:12,13,21,22 121:22 122:1 123:5 130:3,6 133:5 141:7 164:5 164:6,17,20 169:1 187:13 188:3 193:14 196:8</p>	<p>197:5 204:4 205:1 206:15 220:6,8,10 221:1,22 223:16 228:5,7 231:7 240:22 246:18 264:21 269:1,17 270:14 272:7,13 272:16 283:17 284:16,17 285:1 288:17 289:5,8 292:20 296:15 303:18 308:7</p> <p><b>helped</b> 223:9 288:15</p> <p><b>helpful</b> 53:11 89:12 95:13 100:1 101:2 116:18 122:20 125:20 127:1 129:4,5,8 134:13 135:1 136:6 189:20 192:6 209:4 233:2 236:19 242:6 273:18 283:16 295:4,9 299:15</p> <p><b>helping</b> 117:20 202:4</p> <p><b>helps</b> 17:8 134:1 200:18,20 288:19</p> <p><b>hematologic</b> 15:5 15:11 46:11 186:5 186:22</p> <p><b>hematology</b> 15:3</p> <p><b>hematopoietic</b> 15:7</p> <p><b>hemoglobin</b> 151:17</p> <p><b>henderson</b> 167:12</p> <p><b>hereditary</b> 264:5</p> <p><b>hereto</b> 314:15 315:11</p> <p><b>here's</b> 155:5</p> <p><b>hertz</b> 3:23 13:8,8 25:4,21 240:15,15</p>	<p>240:16</p> <p><b>het</b> 201:20</p> <p><b>heterogeneity</b> 58:1 65:12,19,22</p> <p><b>heterogeneous</b> 21:8</p> <p><b>hey</b> 301:8</p> <p><b>he's</b> 214:13</p> <p><b>hi</b> 13:16 38:7 66:22 99:5 122:7 208:2 256:15</p> <p><b>high</b> 9:18 19:14 31:10 42:18,21 47:15 103:6 104:15 106:19 110:6 135:8 154:7 158:3 182:9 197:19 284:5</p> <p><b>higher</b> 154:11 195:7</p> <p><b>highest</b> 132:8</p> <p><b>highlight</b> 97:6 102:21 103:11 107:17 117:16 132:11,14 133:12 297:10,11 298:3 303:7 305:11</p> <p><b>highlighted</b> 300:5</p> <p><b>highlighting</b> 107:12 206:10</p> <p><b>highlights</b> 166:10</p> <p><b>highly</b> 70:14 135:2 262:7 268:21 297:12 298:2,14 302:7,11,17</p> <p><b>highs</b> 200:2</p> <p><b>hiking</b> 77:6</p> <p><b>hill</b> 167:13</p> <p><b>hiring</b> 101:8</p> <p><b>historic</b> 237:20 239:7,13,19 240:6 241:13 242:10 258:9</p>
---	--	---	---

<b>historical</b> 28:8 110:1 120:21 176:10 177:20 178:12 184:7 238:15,20 <b>histories</b> 248:16 <b>history</b> 37:17,20 63:10 132:18 133:3 182:12 241:21 245:19 246:6,8,12 247:6 247:14,22 248:8 248:13,13,19,21 248:22 252:9 257:4,8 278:10 285:10,15,22 291:6 295:14 <b>hit</b> 309:9 <b>hiv</b> 85:1,2 104:8 309:9 <b>hla</b> 30:6 <b>hmm</b> 291:14 <b>hobbyhorse</b> 144:6 <b>hodgkin's</b> 15:13 <b>hold</b> 54:19 55:4 158:14 221:14 <b>holding</b> 67:11 218:6 <b>holds</b> 79:17 <b>home</b> 64:2 <b>homepage</b> 149:6 <b>honest</b> 79:16 81:15 83:16 84:1 84:2,5 148:8 149:13 <b>honestly</b> 83:7 <b>honesty</b> 83:13 <b>honor</b> 84:14,15 <b>honored</b> 17:6 <b>hope</b> 60:10,14 82:3,15,20 117:2 172:15 188:19 289:13 294:17,19 301:17	<b>hopeful</b> 56:20 59:22 60:20 66:16 <b>hopefully</b> 63:9 82:22 83:1 147:20 162:20 228:1 235:8 293:20 296:17 <b>hoping</b> 61:6 <b>horizon</b> 184:3 <b>hospital</b> 77:14 138:18 139:10 140:3 141:5 <b>hospitalizations</b> 49:13 201:8 <b>hospitalized</b> 151:20 <b>hosted</b> 74:16 <b>hot</b> 127:17 128:5 <b>hotspots</b> 232:2 <b>hour</b> 6:14 147:17 <b>hours</b> 78:8 <b>households</b> 204:21 <b>houses</b> 13:13 <b>hub</b> 63:6 <b>huge</b> 72:17 225:8 288:2 308:21 <b>hugely</b> 201:17 <b>hugh</b> 225:17 <b>human</b> 20:12 31:5 31:6 45:8 50:21 54:7 91:17 252:8 295:11 304:13 305:1 310:11,20 312:11 <b>humans</b> 50:4 266:8 <b>humira</b> 211:3 <b>hundred</b> 171:22 <b>hundreds</b> 231:22 <b>hundredth</b> 196:21 <b>hurdles</b> 145:7 <b>hurt</b> 130:9	<b>hybrid</b> 247:12 248:14 <b>hyde</b> 315:2,15 <b>hylton</b> 4:4 14:3,3 122:7 300:19 301:15,20 <b>hyman</b> 281:16 <b>hypercholesterolo...</b> 39:1 <b>hyperglycemia</b> 199:17 <b>hypersensitivity</b> 30:5,7 <b>hypoglycemia</b> 199:17 200:21,22 201:5,5,10 <b>hypothesis</b> 32:14 265:13 277:3	<b>identified</b> 32:17 72:3 258:8,14 262:1 <b>identify</b> 7:6 221:22 230:9 292:20 293:2 <b>identifying</b> 72:6 86:8 121:21 191:19 221:5 <b>ideologies</b> 245:6 <b>ignorance</b> 86:22 <b>ignore</b> 174:15 <b>ii</b> 92:14 107:1 132:7 <b>iii</b> 132:8 <b>illness</b> 109:8 <b>illuminate</b> 115:11 <b>illustrative</b> 187:22 <b>images</b> 104:9 <b>imagine</b> 32:12 144:16 177:17 281:1 <b>imagined</b> 177:2 <b>imaging</b> 13:21 310:13 <b>immediate</b> 232:18 <b>immediately</b> 263:21 <b>immunotherapies</b> 74:20 <b>immunotherapy</b> 74:14 <b>impact</b> 47:14 48:20 94:19 117:13 121:21 124:18 177:11 191:21 198:11 223:16 224:10,22 238:9 274:22 275:3 289:5 <b>impactful</b> 62:4 <b>impacts</b> 297:3 <b>impairment</b> 49:7 105:15 106:7
		<b>i</b>	
		<b>iarikov</b> 3:12 <b>icd</b> 258:5 259:10 259:12,21 262:3 262:15,20 264:2,5 <b>ich</b> 43:2 46:5 50:18 186:4 187:21 188:1 <b>idea</b> 32:5 52:4 122:8 139:5 140:3 164:11 181:13 226:10 228:13 277:16 <b>ideal</b> 116:14 <b>ideally</b> 117:1 <b>ideas</b> 20:11 28:12 137:9 206:2 234:15 244:6,14 284:8 302:9 <b>ideation</b> 191:14 <b>identification</b> 29:6 129:3 221:16 268:18 304:14 <b>identifications</b> 129:4	

110:14	218:9 219:11	275:16	<b>inconsistency</b>
<b>imperative</b> 269:13	220:3 228:21	<b>inborn</b> 14:12 43:1	87:22 88:3 300:20
<b>imperial</b> 168:23	230:20 231:11	250:20	<b>inconsistent</b> 301:1
<b>implement</b> 35:9	233:9 236:13	<b>incentive</b> 71:4	301:6
115:19,21 224:2	247:2 256:10	209:17 211:12	<b>inconsistently</b>
272:21 276:3	268:8 273:18	213:2,12 223:20	286:20
296:16	290:13 294:11	<b>incentives</b> 208:6	<b>inconstancies</b>
<b>implementable</b>	310:12	208:11 209:11	229:15
276:4	<b>importantly</b>	210:2,3 212:13	<b>incorporate</b>
<b>implementation</b>	117:15 189:13	<b>incidence</b> 34:8	117:19 121:13
11:16 12:4 59:20	191:7 219:22	162:22	132:20 163:5
59:21 61:10	228:11 271:16	<b>incidents</b> 34:9	173:12 178:8
191:12 192:1,9	282:16	162:1	183:8
194:2 224:17	<b>imposed</b> 272:8	<b>inclined</b> 67:14	<b>incorporated</b>
279:19 280:4,8	<b>impossible</b> 65:11	<b>include</b> 11:2 34:1	133:11
<b>implemented</b>	147:7 162:17	36:7 42:22 44:13	<b>incorporating</b>
10:16 61:17,17,22	171:4 173:15	101:13 149:12	93:13 96:17
111:15 224:1	<b>imprecise</b> 221:12	183:9 231:13	128:20 177:20
<b>implementing</b>	<b>imprecisely</b> 227:6	232:22 238:18	198:19
23:2 64:10 143:14	<b>impression</b> 254:19	258:17 277:12	<b>incorporation</b>
<b>implied</b> 80:5	<b>improve</b> 11:4	288:4 290:20	182:17 288:3
<b>implies</b> 139:16	81:11 83:2 117:4	293:19 308:18	<b>incorrect</b> 92:21
<b>importance</b>	117:20 128:16	<b>included</b> 43:7	93:8 150:17
114:10 121:16	162:8 175:11	58:14 120:10	<b>increase</b> 103:7
124:9 226:17	176:2,4 177:15	260:14	158:3 163:4 200:9
228:16 269:21	192:7 205:6	<b>includes</b> 6:13 46:5	233:11 238:19
<b>important</b> 18:3	235:12	155:7	<b>increased</b> 31:13
27:9 33:5 35:4,6	<b>improved</b> 23:11	<b>including</b> 57:15	143:11 195:21
36:7 39:21 40:2	80:1 82:6 115:20	60:19 62:9 63:22	199:20 272:11
48:17 59:8 60:5	183:15 196:1,8,14	67:3,8 69:22 72:2	<b>increasing</b> 18:14
77:11 82:9 83:5	252:16 270:9	92:6 114:21	<b>incredible</b> 77:13
83:13 91:17 95:4	<b>improvement</b>	143:13 189:15,22	118:22 205:19
95:20 96:7 103:3	146:4 147:15	239:16 252:8	<b>incredibly</b> 58:9
103:5 105:4	<b>improvements</b>	267:21 268:3,7	63:7 77:5 78:9,22
108:16 119:21	31:3 117:12	269:5,14 272:2	79:4 83:13 185:4
121:4,14 123:18	297:19	292:5 297:22	<b>ind</b> 54:18,18,19
124:21 129:6,15	<b>improving</b> 297:8	<b>inclusion</b> 65:4	55:1,5 124:16
133:4,9,18 155:6	310:3	264:15,17 266:18	125:9 229:19
168:5,11,18	<b>imps</b> 137:15	<b>incoming</b> 215:1	253:8 276:20
172:18 190:10	<b>imputation</b> 41:18	<b>incomplete</b> 257:8	286:11
196:19 197:2	<b>inaccuracies</b>	<b>inconsistencies</b>	<b>independence</b>
198:14 199:19	230:3	222:10 229:13	49:11
202:20 208:15	<b>inadequate</b> 86:4	301:9 303:19	<b>index</b> 131:22,22
209:8 217:16	100:10 187:15	306:11	132:2 166:20

167:1 169:4 171:8 171:11 174:12 229:19 <b>indexing</b> 229:11 230:2 <b>indicate</b> 67:14 124:12 262:21 263:4 <b>indicated</b> 54:17 262:6 <b>indicates</b> 261:20 264:5 <b>indication</b> 12:2 132:13 137:14 146:14 148:14 149:4 235:15,18 236:3 <b>indications</b> 42:17 42:22 43:19 52:7 52:18,20 158:22 242:11,16 252:5 271:4 277:11,14 277:15 281:12 <b>indiscernible</b> 91:14 101:20 103:10 111:3 129:18 130:10 136:3 139:9 140:18 158:2 159:7,16 161:3,12 162:2,19 163:20 167:8,8,10 168:20 169:12 170:11,14 170:14,15,18 171:19,22 172:14 172:16 173:16 174:2,10,11 175:5 175:5 186:10,17 187:16 188:9 190:12 191:1 208:7 210:7 213:9 214:10,13 219:3 245:18 269:7 271:9,11,21,22	272:1,2,2,7,15 278:14 279:12 294:22 296:13 305:4 306:14 307:14 308:2,5 311:20 <b>individual</b> 40:6 124:7 131:13,14 146:17 148:11 179:6 237:5 258:8 259:2,12,21 260:4 261:3,8 262:1 284:4 286:4 <b>individual's</b> 263:16 <b>individualized</b> 81:9 179:15 <b>individually</b> 179:1 <b>individuals</b> 58:6 58:10 60:7 75:20 260:15,16 266:20 <b>inds</b> 286:12 <b>induce</b> 209:12 <b>industries</b> 64:13 <b>industry</b> 18:7 31:19 34:15,22 35:4 36:15 37:7 37:10 40:14 42:14 61:12 68:3,5,10 84:11 85:16 91:12 95:3,15 122:11 130:15 134:19 146:6 190:21 194:13 222:3,8 224:11,18 225:2 228:4 274:5 281:18 282:9,11 285:5,14 286:11 287:14 296:10 <b>inefficient</b> 221:12 <b>inequity</b> 145:4 <b>infancy</b> 100:19 <b>infants</b> 295:5	<b>infected</b> 85:3 <b>infection</b> 85:1 <b>infectives</b> 16:2 <b>inferences</b> 239:7 239:15 <b>inferior</b> 278:6 <b>inferiority</b> 239:21 <b>inflexible</b> 273:11 <b>influence</b> 47:21 197:3 233:17 282:11 <b>influenced</b> 233:13 255:5 266:14 <b>inform</b> 45:20 51:15 119:21,22 122:2 180:20 202:3 220:10 221:15 223:9 270:15 283:17,19 <b>informal</b> 116:21 122:9 123:1 125:22 126:7,17 282:10 305:10,12 <b>informally</b> 61:14 <b>information</b> 6:10 22:3 28:13 29:1,1 29:15 31:5,7 33:5 34:1,3 35:16 36:6 40:10,10 49:20 63:4 94:8 98:16 101:13,14,22 102:2,8 120:14 121:1 133:5 148:3 164:13 179:15 182:17 183:10,13 196:8 200:8 220:3 221:9 227:7 229:3 231:1 232:16 233:2 236:18 237:3,4,7,12 239:2 241:19 246:18 248:7 252:7,14 259:2 264:10 265:4,13	285:18,22 289:4 293:22 <b>informative</b> 99:15 240:21 <b>informed</b> 115:12 120:17 236:22 270:12 271:11 <b>informs</b> 282:18 <b>infrastructure</b> 63:19 160:12 162:7 227:17 <b>infrastructures</b> 159:2 <b>ingest</b> 227:4 <b>inhibition</b> 38:19 39:3 <b>inhibitors</b> 31:1 33:10 34:8 36:2 39:9 <b>initial</b> 125:14 229:10 293:5 310:22 <b>initiate</b> 121:20 <b>initiated</b> 117:17 <b>initiating</b> 45:7 <b>initiation</b> 191:14 <b>initiative</b> 22:20 62:11 144:14 190:21 219:4 226:17 227:16 228:9 230:7 268:8 297:5 <b>initiatives</b> 9:18 10:19 18:22 191:4 191:11 219:2 223:22 <b>injury</b> 49:6 <b>innovated</b> 108:19 271:12 <b>innovation</b> 12:12 17:21 19:10 103:2 103:3 104:21 105:16 118:20 127:17,19 128:22
---	---	---	---

129:4,22 133:13 146:5 188:5,12 192:11 216:13,16 219:8 220:7 224:10 272:14 276:3,5 287:2 <b>innovations</b> 31:3 55:20,21 56:4 63:21 70:19 127:13 129:11,16 129:20 130:12 132:12 185:15 274:13,18 275:4,8 275:20 279:5,6 <b>innovative</b> 11:20 28:6 57:19 59:2 65:11 91:10 92:5 92:12,17 93:4,9 93:21 94:2 95:3 95:11,18 96:17 97:3 106:1,3 107:4,11 115:6,8 115:21 122:3 125:8 129:1 131:11 133:22 134:17 135:6,18 141:13 142:21 180:12 181:4 193:3 216:22 236:15 237:11,15 237:19 268:14 270:16,18,20 271:8 273:2 274:11 284:5 297:20 298:13 299:3,10 302:4,10 <b>input</b> 10:5 11:2 43:21 88:4 90:5 114:10 272:4 287:16 288:9,13 288:20 313:1,14 <b>insecure</b> 204:22 <b>insensitive</b> 153:13	<b>insight</b> 229:7 259:13,15 <b>insights</b> 236:9 283:11 313:17 <b>inspectors</b> 145:16 <b>instance</b> 89:9,11 135:11 144:15 247:16 264:19 <b>instances</b> 29:15 116:19 119:9 226:21 227:10 257:8 266:22 <b>instill</b> 19:13 <b>institute</b> 59:17 67:20 157:20 180:22 229:19 <b>institution</b> 139:1 <b>institutions</b> 73:11 140:14 226:15 258:18 275:13 <b>instruction</b> 142:13 <b>instructions</b> 313:10 <b>insufficient</b> 93:18 189:5 <b>insulin</b> 195:5 198:13 <b>insurers</b> 258:18 <b>integrate</b> 225:12 <b>integrated</b> 111:3 112:14 113:3 176:10 190:9 191:6 <b>integrating</b> 26:2 <b>integration</b> 112:2 <b>integrity</b> 22:6 298:16 <b>intelligence</b> 175:10 220:17 274:15 296:5 <b>intended</b> 45:1,4 46:21 50:13 191:2 194:8	<b>intense</b> 213:18 <b>intensive</b> 117:9 221:12 228:9 <b>intent</b> 20:3 53:19 <b>intentional</b> 205:22 <b>interact</b> 107:21 130:12 202:4 <b>interaction</b> 97:8 117:2 123:12 125:10 270:9 287:9 306:16 <b>interactions</b> 54:13 114:20 115:20 116:17 123:1,11 126:17 127:2,6 130:8 268:21 269:21 <b>interactive</b> 44:3 <b>interception</b> 119:5 <b>interdisciplinary</b> 135:12,14 <b>interest</b> 10:22 16:22 17:3 67:21 76:16 90:8 159:19 234:5 258:4,14,22 265:17 282:11 308:15 <b>interested</b> 10:15 11:19 48:14 66:12 99:6 145:10 178:17 231:4,11 244:20,21 245:17 284:22 290:18 296:20 314:15 315:12 <b>interesting</b> 17:17 25:17 139:8 231:3 241:2 259:13 274:17 <b>interests</b> 146:11 <b>interferon</b> 171:10 <b>interim</b> 92:18 140:15 155:13,22 156:3,7,9	<b>intermediate</b> 168:17 <b>internal</b> 223:10 225:18 227:17 287:7 <b>internally</b> 220:21 225:22 229:13 <b>international</b> 44:20 68:9 157:21 158:8 188:4 <b>internationally</b> 73:7 166:15 <b>internist</b> 86:21 <b>interpret</b> 42:5 95:7,22 150:11 <b>interpretation</b> 40:10 150:2 311:2 <b>interpreted</b> 155:18 <b>interrupt</b> 8:6 <b>intersect</b> 168:4 <b>intersection</b> 114:19 <b>intervention</b> 203:20 245:22 256:1,3 <b>interventional</b> 298:17 <b>interventions</b> 200:18 239:4 <b>introduce</b> 253:21 <b>intra</b> 244:13 249:11,22 <b>introduce</b> 12:18 208:10 210:5 <b>introduction</b> 7:16 <b>invariably</b> 87:8 <b>invest</b> 76:2 152:11 152:12 <b>invested</b> 69:7 97:19 98:22 190:22 267:20 <b>investigated</b> 23:22
--	--	--	--

<p><b>investigational</b> 245:9 248:4,5 264:22</p> <p><b>investigative</b> 140:4</p> <p><b>investigators</b> 81:8 140:5,6</p> <p><b>investing</b> 21:18 289:9</p> <p><b>investment</b> 93:18 186:3 224:11 297:14</p> <p><b>investments</b> 76:14 152:11 209:13</p> <p><b>investor</b> 68:21</p> <p><b>investors</b> 76:2,5</p> <p><b>invitation</b> 218:5</p> <p><b>invite</b> 9:12</p> <p><b>inviting</b> 67:6</p> <p><b>involve</b> 68:14 118:14 290:6,8,10 290:20</p> <p><b>involved</b> 40:5 63:17 68:21 114:18 125:17 128:11 139:22 143:5 189:2 252:16 254:1 266:3</p> <p><b>involvement</b> 125:11</p> <p><b>involves</b> 87:8 116:5 290:6</p> <p><b>ionization</b> 167:13</p> <p><b>iq</b> 42:13</p> <p><b>irb</b> 115:12</p> <p><b>irb's</b> 143:3 145:15</p> <p><b>irony</b> 247:11</p> <p><b>irrational</b> 209:17</p> <p><b>irreversible</b> 42:20</p> <p><b>isn't</b> 222:15 228:14 232:9 243:5</p>	<p><b>isobologram</b> 172:5</p> <p><b>isoleucine</b> 259:4</p> <p><b>issuance</b> 289:1</p> <p><b>issue</b> 20:6 98:7 129:9 150:12 164:15 172:17,18 185:10 188:18 208:15 214:14 216:7 217:16 230:1 232:6 310:11</p> <p><b>issued</b> 241:22</p> <p><b>issues</b> 12:1 26:11 39:13 101:11,12 101:12 115:13,16 116:13 130:21 131:3 145:15,17 189:22 193:4 208:5 221:22 223:19 241:8 268:19 281:19 290:12 293:3 310:16</p> <p><b>items</b> 116:17 149:9</p> <p><b>iteration</b> 90:13</p> <p><b>iterations</b> 144:1</p> <p><b>iterative</b> 125:22 270:11,12 309:19</p> <p><b>it'll</b> 111:21</p> <p><b>it's</b> 92:5 95:20 96:5,9 104:11 107:12,16 108:9 110:7,9,10 111:13 114:12 116:6,13 121:7 123:8,9,18 127:11,13 130:9 131:4 133:14,15 135:20 136:16 137:10,22 139:5 141:13,14,20 145:8,9 150:13 151:6,7 153:2,12</p>	<p>154:7,12 155:1,15 155:17 156:15 161:7 164:20 165:8 166:2 167:5 167:20 168:10,18 168:19 169:1 170:4 171:4,21 174:4 176:19 178:15 191:5 193:10 194:7 195:16,16 200:1 202:18 203:2 205:12,13,13,15 206:21 209:7 210:7 214:7 216:3 217:8 219:21 220:1 221:7,12,12 221:13 225:5 227:14 228:15 233:7 235:5 238:14</p> <p><b>i'd</b> 6:5 9:10 114:22 115:5,8 117:16 120:11 127:15 135:8 136:18 145:12 158:17 188:8 218:5 235:2 237:8 237:17</p> <p><b>i'll</b> 5:4 9:2 12:22 90:20 120:20 124:14 128:8 138:1 150:1,20,21 152:22 165:14 177:22 190:18 192:14 193:22 210:5 217:18 219:7 220:4 234:1 240:4 242:7</p> <p><b>i'm</b> 5:2 13:3,6,7,16 13:17,19,20,22 14:1,3,4,7,10,14 14:16 15:9,10 99:6 101:22</p>	<p>102:20 103:17 109:11 122:10 124:14 126:4,15 127:2,7,13 130:5 135:4 142:11 145:9 146:9,21 148:1 152:20 157:19,20 166:1 169:4 172:20 174:7 175:9,17,17 178:9 179:3 181:11,16,17 184:18 185:6 193:1 203:22 206:3 208:4 210:10 212:16 218:7,10,12,16,22 220:13 233:6,13 234:21,22 235:7,7 241:20</p> <p><b>i've</b> 97:2 122:7 137:20 150:22 151:2 157:8,13</p>
<b>j</b>			
<p><b>j&amp;j</b> 303:13</p> <p><b>james</b> 3:2,9 207:20 208:1,2 216:19 217:4,22 281:15,16 290:15 291:14</p> <p><b>janice</b> 2:11 84:8,9 87:13,19 89:18</p> <p><b>janssen</b> 302:22 303:10</p> <p><b>january</b> 6:2 293:7 313:9,15</p> <p><b>jardine</b> 2:15 157:17,19 164:18</p> <p><b>jdrf</b> 207:14</p> <p><b>jennifer</b> 2:6 27:22 28:2 35:19 36:19 37:4,19 38:11 40:1,21 41:13</p>			

<b>jeremy</b> 215:1	208:12 296:2,12	<b>keeping</b> 26:3	257:16 299:8
<b>jim</b> 2:4 5:5 12:19	<b>joffe</b> 4:4 14:3,3	<b>keith</b> 2:3 5:1,2	306:15,15 307:6
12:19 23:7 26:20	122:6,7 300:18,19	9:16 12:14,16,21	<b>kinds</b> 32:6 37:6
27:19 35:12 36:12	301:15	16:3 90:18 99:4	41:2 205:2
37:14 39:10 40:15	<b>joffe's</b> 125:7	99:19 101:3	<b>kinetics</b> 167:11
42:7 47:16 48:9	<b>johnson</b> 303:1,1	125:21 126:6	<b>kiosk</b> 6:20
49:16 51:20 53:5	309:11,11	165:6,12 175:7	<b>knew</b> 78:10
55:10 63:12 64:20	<b>join</b> 67:9	216:11,21 217:20	<b>know</b> 24:21 33:3
66:2,20 74:22	<b>joined</b> 90:12	218:1 231:19	34:2 35:15 36:3,8
76:16 81:4 84:7	<b>joining</b> 313:18	243:11 254:6	36:9 37:17 38:15
87:11 89:4 90:14	<b>jointly</b> 192:12	312:21	39:2 47:19 50:6
102:12 109:4	<b>jon</b> 175:22	<b>kelly</b> 2:22 195:10	53:11 73:1,10
111:1 112:7	<b>journal</b> 68:16 73:8	197:19 198:6	76:17 79:17 81:17
113:10 114:3	166:14 214:12	200:11 202:9	81:18 82:10,20
122:5 123:20	<b>journals</b> 174:18	203:17 204:7	83:12,15,17 84:2
125:5 127:8	<b>journey</b> 263:2	205:9 206:17	95:1 100:9,14,22
135:16 136:12	<b>judge</b> 180:1	207:19	101:12,13,15
144:21 147:16	<b>judgment</b> 150:13	<b>kesselheim</b> 212:17	102:5,5 104:12,20
148:16 149:19	250:11	<b>key</b> 28:22 108:21	105:2,6,9 107:4,6
157:16 164:11	<b>judicial</b> 132:9	146:19 150:9	107:10 109:1,7,10
165:5 172:13,19	<b>judith</b> 2:7 42:9,10	158:18 159:5	109:12,20,21
174:6,17,19 175:1	48:6,19 50:11	219:7,19 245:21	110:1,2,6,9,13,18
181:9 182:14	52:12,21 53:18	268:19	110:20 111:17,19
183:16 184:14	<b>juggle</b> 123:14	<b>keyboard</b> 207:22	112:2,3,20 113:4
192:22 193:21	<b>julia</b> 2:10 3:15	208:1 220:13	113:5,22,22 116:2
194:19 203:16,18	15:17,17 76:19,19	<b>kicks</b> 210:19	122:11 123:8,10
205:3 206:7	81:12 125:6	<b>kid</b> 77:7	124:4,6,9,12
207:17 216:9	279:15	<b>kidney</b> 158:11	125:1 131:5
218:2 228:19	<b>julian</b> 214:10	160:5 161:4,21	132:19 137:1,3,22
230:14 232:20	<b>jumped</b> 212:19	195:7 203:6 261:4	138:15 139:18
233:5 234:1,20	<b>jurisdictions</b> 96:7	<b>kids</b> 86:14 87:2	140:18 141:14
240:14 241:11	<b>justified</b> 272:9	201:2 307:13	142:7,10 143:9,19
243:4 265:18	<b>justifying</b> 208:17	<b>kind</b> 24:7 32:13	143:19 144:7,7,8
267:4,7 273:4,22	<b>k</b>	65:16 66:8 72:10	145:3,9,13,15,17
279:14 280:2,15	<b>katrin</b> 2:13 102:14	73:15 84:5 89:20	145:18,19 146:16
281:13 290:2	102:16 109:21	97:4 118:16	147:9,12,16 149:5
291:12,15 300:17	111:6 112:13	123:13 127:3,4,5	149:16 150:12,13
301:19 302:18	113:21	148:13 176:6	155:1 157:7
310:8 311:15	<b>keep</b> 6:6,12 7:1,13	182:1 194:2	163:19 167:11
<b>jitendra</b> 2:18	51:22,22 52:2	208:17 216:5,14	173:13 174:8
149:22 150:1	128:15,21 147:20	222:8 224:8 228:2	193:9 196:15
<b>jive</b> 122:15	148:19 289:20	229:10 242:21	202:9,17 204:9
<b>job</b> 1:18 118:22	301:10	246:9 252:2	205:20 206:2,4,19
185:10 205:7		253:18,19 254:19	207:1,15 211:2

215:16 216:3 218:6 222:14,17 231:7 233:8 234:3 235:22 237:10 245:5,5,7 246:9 247:1,11 254:17 254:18,20 255:5,9 255:18,22 256:4 266:4 269:18 278:6 279:21 290:4,9,16 291:6 291:18 294:6 296:7 300:3 301:8 310:22 312:10 <b>knowing</b> 81:18 275:17 <b>knowledge</b> 9:22 18:21 28:19 140:6 162:14,22 227:15 314:10 315:6 <b>known</b> 98:1 131:17,19 177:6 209:3 262:5 263:19 266:12 269:14 <b>knows</b> 22:3 59:7 139:15 198:8 250:18 <b>kozauer</b> 3:21 14:20,21 <b>krieg</b> 2:9 66:22 75:12	238:7 <b>labels</b> 196:8 202:14 205:7,15 <b>laboratory</b> 42:12 258:4 259:7 260:1 <b>lack</b> 17:22 26:16 29:19 36:1 53:7 57:14,18 122:1 129:10 227:4 287:7 <b>lacks</b> 186:20 <b>ladies</b> 136:18 <b>landmark</b> 199:9,9 <b>landscape</b> 284:9 <b>language</b> 131:4,7 253:18 <b>languages</b> 176:20 <b>large</b> 31:5,6 32:3 32:22 40:4 76:11 96:6 151:7 153:5 173:19 182:3,4 216:7 282:13 308:19 <b>larger</b> 124:9 140:14 <b>largest</b> 163:22 257:16 284:21 <b>lastly</b> 164:3 265:6 <b>late</b> 185:18 186:11 189:4 305:3,6 311:14 <b>lateral</b> 57:16 <b>latest</b> 70:10 142:18 <b>laudable</b> 164:14 <b>launch</b> 116:7,11 123:13,19 275:6 <b>law</b> 166:3,18 167:5 168:15 171:8 173:17 174:4 251:18 <b>lay</b> 280:13 <b>ld50</b> 167:20	<b>ldl</b> 33:13,21 36:4 38:18,21 39:4 <b>lead</b> 21:1 44:19 53:8 58:11 72:18 75:8 86:20 87:18 88:18 89:13 147:14 150:20 188:20 189:5 196:10 <b>leader</b> 20:16 249:20 250:2 <b>leaders</b> 10:11 268:12 284:7 289:8 <b>leadership</b> 10:4,9 16:10 19:18 20:19 21:10 42:14 185:3 283:4 284:2 289:15 313:2 <b>leading</b> 32:7 71:1 144:15 267:14 <b>leads</b> 49:8 87:5 160:12 <b>leaning</b> 146:21 <b>learn</b> 28:11 38:5 80:4,6 83:1 94:10 143:6 220:15 306:16 <b>learned</b> 34:6 35:22 78:19 133:2 299:9 <b>learning</b> 80:3 175:21 176:1,4,7 177:7 178:3,14,19 225:8 236:8 240:18 271:17 292:12 300:10 <b>learnings</b> 94:12 115:1 118:16 136:7 143:14 163:6 191:15 241:5 299:13,22 303:18	<b>leave</b> 88:5 <b>led</b> 29:11 33:19 34:21 59:16 63:2 85:9 288:16 289:2 <b>ledanski</b> 315:2,15 <b>left</b> 92:20 93:6 151:15 216:10 298:12 <b>leg</b> 297:17 <b>legitimate</b> 294:15 <b>lemery</b> 3:16 290:2 290:3 <b>lemory</b> 15:14,14 144:21,22 <b>lend</b> 251:5 <b>lengths</b> 119:7 236:5 <b>lengthy</b> 75:9 144:1 263:9 <b>lens</b> 188:11 <b>lessons</b> 64:10 299:9 <b>lethal</b> 195:6 <b>letter</b> 83:19 84:1 <b>letting</b> 84:13 <b>let's</b> 123:21 127:14 142:5 145:22 149:3 150:3 153:14,21 155:21 156:1 <b>leukemia</b> 15:6 30:17 <b>level</b> 19:13 21:14 22:2,2 26:13,16 42:18 85:10 116:20 123:2 135:8 161:5 172:4 172:4 197:22 221:21 241:10 259:22 283:1 297:14 <b>leverage</b> 9:22 106:8 140:2 194:17 257:11
<b>l</b>			
<b>lab</b> 31:4 71:12 77:17 103:3 104:8 179:7 183:9 <b>labcorp</b> 256:17 257:15 258:2,16 <b>label</b> 34:13 59:21 66:13,18 205:14 308:22 <b>labeling</b> 28:14,21 29:16,18 34:1,19 35:17,20 36:7			



265:10 272:16 313:16 <b>leverages</b> 188:14 <b>leveraging</b> 185:15 238:22 268:6 <b>levin</b> 215:1 <b>levy</b> 2:12 91:5,5,6 99:12 100:5 101:16 102:4,13 130:22 <b>liaison</b> 184:19 <b>library</b> 148:19 <b>license</b> 208:19,20 215:3 <b>licensing</b> 208:17 214:18 <b>lie</b> 222:11 <b>life</b> 11:18 42:17 48:21 49:1,1,3,10 49:14 78:6,21,21 79:15,22 80:13,18 82:14 84:9 91:11 185:11 191:13 200:3 <b>lifetime</b> 166:8 <b>light</b> 20:3 45:16 46:21 51:11 <b>likelihood</b> 42:20 275:6 <b>likewise</b> 221:21 226:16 294:19 <b>limit</b> 8:2 143:15 212:4 278:2 <b>limitation</b> 156:15 156:16 <b>limitations</b> 220:3 265:22 <b>limited</b> 7:22 50:9 226:6 <b>limiting</b> 38:5 293:14 <b>limits</b> 47:4 <b>line</b> 19:20 21:11 82:5 119:14 168:2	168:7 216:14 221:13 <b>linearized</b> 167:16 167:18 <b>lines</b> 40:18 88:14 181:21 214:18 215:3 254:17 255:2 292:16 <b>link</b> 31:11 43:8 106:13 221:10 <b>linked</b> 110:7 216:16 <b>linking</b> 227:1 <b>lipid</b> 38:15 <b>lisa</b> 4:11 14:16,16 40:17 41:8 53:6 147:22 <b>list</b> 30:11 47:3 150:7 230:16,18 230:19 231:16 248:15,17 296:6 <b>listed</b> 7:10 83:22 137:20 298:16 <b>listen</b> 16:4,5 <b>listened</b> 79:5 <b>listening</b> 9:17 157:15 235:5 286:17 287:19 288:15 291:9 313:16 <b>lists</b> 8:16 16:17 90:22 165:16 243:18 <b>literally</b> 257:19 <b>literature</b> 169:11 <b>little</b> 53:12 74:1 76:1 77:6 80:16 80:18 84:1 99:6 99:10 101:10 109:18 111:7,13 128:8 130:18 131:9 135:20 136:10,20 140:20 141:12 146:18,22	161:14 165:7,7 175:9 177:22 188:8 205:16 218:17 222:13 224:13 263:2,2 274:9 275:11 303:8,20 304:8,16 304:20 305:4 306:13 307:16 308:6,8,16 309:8 310:2 311:5 312:3 312:4,9,13 <b>live</b> 145:5 186:11 267:17 <b>lived</b> 78:12 <b>liver</b> 70:14 <b>lives</b> 198:11 256:4 267:18 <b>living</b> 195:3 197:17 202:7 204:22 <b>liza</b> 3:11 302:21 302:22 310:18 312:3 <b>llc</b> 165:21 <b>local</b> 139:15 173:14 <b>location</b> 1:12 216:6,6 <b>log</b> 153:4,15 156:2 156:7,7 <b>logical</b> 266:19 <b>logistics</b> 6:6 <b>long</b> 31:17 32:15 33:3 34:18 38:20 45:10 68:19 76:13 79:20 90:10 92:4 105:3,4 195:22 197:14 201:4 212:4 245:14 273:19 310:5 <b>longer</b> 107:15 140:11 217:13 267:17	<b>longitudinal</b> 63:8 92:19 258:1 <b>longitudinally</b> 179:9 <b>longstanding</b> 5:20 <b>look</b> 12:13 37:20 37:21,22 48:1,11 48:16 78:10 106:4 106:18 108:3 119:4 120:10 132:17 133:1,14 139:3 146:13 148:14 149:13 153:21 154:1 155:21 156:20 159:13 165:3 170:11 171:8,15 172:5 177:4 179:8 188:10 192:20 199:3 209:5,9 210:2 213:4,6,7 221:9 224:11 226:8 228:5,6 245:21 246:2,5,6 246:11 247:5 248:17 250:3 254:17 258:21 259:19 264:2 272:20 277:13 297:7 299:22 300:14 304:6 <b>looked</b> 153:3 222:13 229:20 274:18 275:2 <b>looking</b> 38:16 62:1 77:16 96:19 108:20 109:12 118:9 120:11 143:4 154:9 183:1 183:12 184:6 207:1 210:2 219:14 220:18 241:20 252:4,9,9 263:2,14 266:2
--	--	--	--

292:10,18 295:6 295:20 301:21 306:2 <b>looks</b> 82:15 156:2 275:3 <b>lose</b> 77:7 126:18 <b>losing</b> 77:18,21 78:9 79:19 138:17 <b>loss</b> 33:11,17 36:3 49:11 204:13 225:20 <b>lost</b> 77:20,20 79:19 201:7 <b>lot</b> 72:2 77:9 79:19 79:19,20 80:4,5 82:17 83:1 109:8 124:2 128:4 130:11,20 131:2 132:17 133:5 135:7 142:6 153:20 155:16 172:17 177:19 181:2 184:6,22 195:11 196:17 200:16 204:17 206:18 212:19 218:7,13,15 220:15 221:15 227:20 231:1 240:22 241:19 259:20 266:6 273:6 275:13 290:19 297:4,6,13 297:14 298:14 299:1 300:4 305:9 305:19 306:10 308:9 <b>lots</b> 21:13 127:12 142:2 146:4 259:21 288:21 <b>lou</b> 13:19 <b>louis</b> 4:6 13:19 23:10 36:13,21 228:20 230:8	232:19,21 310:10 <b>love</b> 3:2 131:6 198:3,18 202:12 202:15,15 203:9 204:7,20 207:20 208:1,2 216:19 217:4,22 231:1 286:1 <b>loved</b> 202:13 <b>low</b> 154:5 158:22 162:1,21 197:20 200:20 291:4 311:10 <b>lower</b> 33:13,21 34:8 36:4 154:12 <b>lowering</b> 38:15 197:13 <b>lows</b> 200:2 <b>lucky</b> 198:22 204:10 <b>lucy</b> 3:7 267:9,10 273:14 <b>lunch</b> 6:14,17,19 6:20,20,21,22 7:3 7:22 8:20,21 147:17 <b>lundbeck</b> 102:17 <b>lung</b> 116:4,4 123:11 125:15 277:10 <b>lupus</b> 92:14 185:18 <b>lymphoma</b> 15:13 <b>lynne</b> 4:12 14:6,7 87:13 99:5 172:20 173:7,11 182:15 206:9 233:6 243:5 <b>lynne's</b> 89:7	<b>magic</b> 82:2 <b>magically</b> 79:22 <b>main</b> 39:13 55:22 176:11 311:10 <b>maintain</b> 178:22 178:22 <b>maintaining</b> 10:3 45:13 <b>major</b> 42:19 49:8 70:3 92:1 100:6 166:15 274:18 <b>majority</b> 94:16 <b>making</b> 21:14 28:13,20 34:18 45:21 67:5 69:6 74:3 85:21 94:7 112:17 118:13 121:6 177:10 191:8 196:9 197:2 197:4 205:7 210:13 232:6,11 237:6 240:7 241:4 247:8 253:3,4 270:15 271:15 298:17 301:19 <b>malignancies</b> 13:14,15 15:6,11 15:16,19,19 <b>man</b> 207:22 <b>manage</b> 42:5 116:12 203:21 204:4 <b>managed</b> 180:22 208:6 <b>management</b> 17:19 191:12,19 192:9 194:1 197:9 197:16 201:14 227:16 304:7,18 <b>manager</b> 24:13 25:1 <b>managing</b> 118:20 120:2 136:15 204:2	<b>mandate</b> 214:17 <b>mandated</b> 299:9 <b>mandating</b> 215:2 <b>manifestation</b> 187:9 <b>manipulated</b> 39:19 <b>manner</b> 24:20 44:15 54:15 55:5 108:19 116:8 122:20 266:19 <b>manual</b> 227:9 <b>manually</b> 223:1 <b>manufacturers</b> 233:3 267:12 <b>map</b> 116:4 123:11 <b>mapquest</b> 19:22 23:4 <b>march</b> 63:3 67:12 249:1 <b>marginal</b> 211:10 <b>margolis</b> 229:18 <b>mark</b> 2:14 114:5 122:22 124:14 125:13 126:4,14 <b>markers</b> 85:19 111:22 112:11 <b>market</b> 56:16,21 66:12,16,16 97:10 120:8,16 188:18 189:11,19,22 190:5 193:7 198:9 209:21 210:8,20 211:5,12 212:1 214:4,5 215:10 292:6 298:5 <b>marketing</b> 97:13 97:17,18 188:15 190:6,14 193:14 272:6,6 <b>marrying</b> 273:12 <b>martin</b> 3:8 274:2,3 280:3 281:5
	<b>m</b>		
	<b>m</b> 167:8 315:2,15 <b>machine</b> 175:21 176:1,3,7 178:3 178:14,19 225:8		

<p><b>marzella</b> 4:6 13:19,20 23:9,10 36:12,13,21 228:19,20 230:8 232:19,20,21 310:9,10</p> <p><b>mass</b> 166:2,18 167:5 168:15 171:8 173:17 174:4</p> <p><b>massachusetts</b> 67:21 175:20</p> <p><b>master</b> 11:16 19:1 106:8 113:15 115:10,17 116:4,5 116:7 123:11,17 125:16 128:4 130:19 158:16 159:5 160:10,11 160:13,22 162:9 163:2,14 164:6,9 164:19 188:13</p> <p><b>match</b> 246:8,9 247:15 278:11,19</p> <p><b>matched</b> 178:4 179:2,14</p> <p><b>matching</b> 239:9 246:10 247:22</p> <p><b>maternal</b> 14:8</p> <p><b>math</b> 173:1</p> <p><b>mathematical</b> 134:5 166:19 168:16 269:15</p> <p><b>mathematics</b> 136:9</p> <p><b>matter</b> 91:11 144:18</p> <p><b>mattered</b> 53:16</p> <p><b>matters</b> 286:21</p> <p><b>maximize</b> 285:22 289:5</p> <p><b>mcnamara</b> 281:17</p> <p><b>md</b> 1:16</p>	<p><b>mda</b> 63:17</p> <p><b>mda's</b> 62:14</p> <p><b>mds</b> 15:6</p> <p><b>mean</b> 9:19 25:5,7 82:13 87:17 101:21,22 109:18 122:10 125:1,9 136:1,2 141:9 144:7 205:10 206:18 209:5 232:3,4 233:21 255:18,19 263:10 301:14</p> <p><b>meaning</b> 146:7</p> <p><b>meaningful</b> 51:16 51:18 124:11 199:22 200:10 201:3,10</p> <p><b>meaningfully</b> 207:16</p> <p><b>meaningfulness</b> 255:13</p> <p><b>means</b> 18:10 19:6 20:2 33:12 66:16 90:16 138:12 153:12 161:2 190:5 210:5,18 266:10,21</p> <p><b>measure</b> 27:9,10 104:9 119:8 141:10 199:22 200:5 201:14 255:10</p> <p><b>measured</b> 197:15 200:1</p> <p><b>measurement</b> 198:3</p> <p><b>measurements</b> 27:8 107:14 159:14</p> <p><b>measures</b> 104:5,7 104:16 106:20 198:20 199:20 245:20 246:11</p>	<p><b>measuring</b> 200:16</p> <p><b>meat</b> 228:21</p> <p><b>mechanical</b> 211:14</p> <p><b>mechanism</b> 70:5 131:12 217:14 276:22 277:5</p> <p><b>mechanisms</b> 61:10 61:13 290:9</p> <p><b>mechanistic</b> 252:7</p> <p><b>mechanization</b> 306:8</p> <p><b>med</b> 220:19</p> <p><b>media</b> 7:4,7</p> <p><b>median</b> 166:17 167:7,16,18 168:1 169:3 174:8,10 215:18</p> <p><b>medical</b> 13:20 18:3 45:17 47:15 50:17 51:6,11,14 85:1 86:3,10 102:18 103:6 126:8 129:17 131:19 146:9 177:12,14 185:16 185:21 187:13 197:10 214:13 243:9 249:18 250:7 257:13 265:9 283:6 290:18 291:8</p> <p><b>medicare</b> 204:11</p> <p><b>medications</b> 144:12</p> <p><b>medicinal</b> 91:19 93:16</p> <p><b>medicine</b> 11:8,12 13:9,10 16:21 17:2 28:3 37:18 176:2 177:11 189:19 197:20 267:16 274:19 283:19</p>	<p><b>medicine's</b> 17:18</p> <p><b>medicines</b> 297:11 305:7</p> <p><b>meet</b> 17:15 219:17 248:1 253:12 287:12</p> <p><b>meeting</b> 5:9,13 6:6 6:10 7:13 9:3,4,7 9:15 10:3,21 24:3 26:10 54:18 55:1 63:2 67:9 68:11 72:16,21 119:18 193:10 218:9 224:7 231:21 232:10 237:2,22 250:17,20,22 251:14 253:6,7,8 268:11 286:11,22 287:2 289:3 292:10 301:22 312:6 313:10,19</p> <p><b>meetings</b> 22:7,11 54:18 62:11 108:7 108:11 184:22 192:20 199:14 236:21 270:13 286:8,11,17 288:17 289:17 305:20</p> <p><b>meets</b> 115:18</p> <p><b>meg</b> 2:15 157:17 157:19 164:18</p> <p><b>mega</b> 278:3</p> <p><b>melanoma</b> 15:16 277:9</p> <p><b>melmeyer</b> 2:8 55:13,14 63:20 65:7 66:11</p> <p><b>member</b> 67:18 157:20 267:19 268:3,4</p> <p><b>members</b> 7:3 68:9 68:17 72:2 81:6 224:9</p>
---	---	--	--

<b>memos</b> 240:17,20 <b>mental</b> 198:16 <b>mentioned</b> 12:22 16:6 25:4,22 64:6 109:5 119:3 124:1 124:8 131:11 164:15 223:21 241:18 249:1 281:6 <b>merck</b> 42:11 <b>merely</b> 177:7 <b>merit</b> 209:18 <b>message</b> 28:22 <b>met</b> 175:21 <b>meta</b> 239:9 <b>metabolic</b> 14:17 <b>metabolism</b> 29:21 43:1 250:20 <b>metabolizing</b> 29:22 <b>metastatic</b> 75:19 <b>meters</b> 199:1 201:16 <b>method</b> 41:11 131:11 150:9,10 151:5,10,12 152:15,17,20,22 154:10,11,13,15 155:9,13,15,20 156:10,13,21 157:4 171:11,18 171:20,21 172:2 223:7 <b>methodologically</b> 190:2 <b>methodologies</b> 92:9 93:14,21 95:4,6,18 96:1,13 96:22 97:3 107:7 108:14 134:8 191:6 239:6,14 294:10 <b>methodology</b> 92:12 103:2	104:20 108:7 110:12 157:12 274:15 277:21 279:11 280:7 <b>methods</b> 92:6 129:1 133:22 152:18 154:8 155:16 171:22 185:9 189:16 194:9 280:11 288:8 294:6 296:4 <b>metric</b> 153:22 <b>metrics</b> 121:21 124:10,12 133:6,6 133:7 134:3 196:6 198:2,4 202:2 218:20 226:7 227:5 239:15 <b>mice</b> 170:10,10 <b>michael</b> 1:17 314:2,18 <b>michele</b> 4:7 13:16 13:17 203:18,19 <b>microphone</b> 9:5 <b>microphones</b> 199:18 <b>mid</b> 192:20 <b>midd</b> 194:11 <b>middle</b> 151:20 259:6 <b>midway</b> 263:17 <b>mila</b> 71:16,17 72:5 72:9 77:1,15,18 78:3,4,6,14,16 79:1,6,9 80:3,19 83:20 84:4 <b>mila's</b> 73:9 76:20 76:21 78:2,21 79:7,16,21 80:13 80:18 81:18,22 83:8 <b>milasen</b> 78:15,19 80:20 81:16	<b>milestone</b> 79:2 <b>million</b> 32:11 212:21 213:1 217:8 <b>mimicking</b> 32:17 <b>mind</b> 84:5 100:4 125:4 128:15,22 140:8 193:4 246:17 302:9 <b>mine</b> 41:4 <b>mined</b> 220:22 <b>minimum</b> 157:7 168:8,12 170:22 <b>minus</b> 27:17 <b>minute</b> 6:13,14 8:8,18 9:1 58:19 83:12 90:15 197:18,18 243:13 255:7 298:12 <b>minutes</b> 24:17 91:9 166:8 197:2 197:4 200:6 253:7 <b>miracle</b> 245:4 <b>mire</b> 23:15 <b>misperception</b> 279:20 <b>misrepresentation</b> 245:9 <b>missed</b> 36:19 40:16 263:7 <b>missing</b> 242:4 288:11 <b>mission</b> 17:9 68:3 <b>missteps</b> 240:22 <b>mistress</b> 17:16 <b>mitigate</b> 228:7 <b>mitochondrial</b> 57:8,16 58:3 <b>mixed</b> 140:4 <b>mixmers</b> 70:6 <b>mm</b> 291:14 <b>moa</b> 307:13 <b>mobile</b> 60:4 63:15	<b>mode</b> 16:5 281:8 <b>model</b> 150:17 152:4,7 153:4,5 153:12,13,20 155:3,7 168:15,17 178:14,19,20 181:12 194:8 225:18 239:9 250:4 264:19 265:14 271:11 276:9,10,18 <b>modeled</b> 92:15 187:21 <b>modeling</b> 92:19 95:10 100:11,16 128:6 133:10 173:9 225:7 266:17 <b>modelling</b> 308:9 309:3 <b>models</b> 150:18 154:21 161:18 173:3,11 182:7,12 183:4 225:11 226:1 <b>moderator</b> 5:8 <b>moderators</b> 5:5 8:3 12:22 233:8 <b>modern</b> 141:6,11 141:20 <b>modernization</b> 189:8 <b>modernize</b> 10:8 191:2 268:8 <b>modernizing</b> 17:8 <b>modification</b> 69:2 <b>modify</b> 45:2 <b>modifying</b> 283:21 <b>modular</b> 69:10 <b>modulate</b> 48:10 <b>modulators</b> 47:20 <b>molecular</b> 11:9 118:14
---	--	---	--

<p><b>molecule</b> 71:7 216:4</p> <p><b>molecules</b> 213:17 214:5</p> <p><b>moment</b> 55:16 62:14 142:7 148:9 148:12,15 149:14 162:18 175:13 199:14 215:15 292:8</p> <p><b>money</b> 27:3,7 76:4 76:13,15 144:10 212:10 213:10</p> <p><b>monitor</b> 141:7</p> <p><b>monitored</b> 187:17 226:13</p> <p><b>monitoring</b> 51:15 51:16 121:21 192:2 196:1 201:15 298:18</p> <p><b>monogenic</b> 38:9 38:13 39:7</p> <p><b>month</b> 50:22 51:8 54:17 68:11 77:19 80:17 84:3 197:16 197:17 212:20 249:16</p> <p><b>months</b> 83:21 208:16 236:6 254:21 289:2 300:15</p> <p><b>mood</b> 104:14</p> <p><b>morbidity</b> 42:20</p> <p><b>morning</b> 5:2 6:13 9:14 13:2,19,22 14:6,9,16,20,22 15:22 17:1,6 27:22 55:13 84:8 91:7 102:14 113:13 146:5 185:9</p> <p><b>mother</b> 76:20 78:2 78:2</p>	<p><b>move</b> 7:11 18:12 25:14,15 96:6 104:4 118:3 123:5 163:9 216:6 220:9 223:13 234:3 309:9 310:2</p> <p><b>moved</b> 83:7,12 175:20 284:12</p> <p><b>movement</b> 141:7</p> <p><b>moving</b> 52:2 133:18 159:15 199:1 222:9 229:8</p> <p><b>movr</b> 63:6</p> <p><b>mra</b> 74:16 119:19</p> <p><b>msi</b> 110:6</p> <p><b>multi</b> 116:20 117:22</p> <p><b>multinational</b> 292:6</p> <p><b>multiple</b> 9:18 10:17 15:13 69:9 69:20,22 70:18 96:10 97:14 116:5 121:19 137:14 138:1 141:3 159:8 160:14 182:11 185:19 199:11 207:1 265:11 268:15 270:13 290:14 296:9 303:11</p> <p><b>multiplicity</b> 100:8 100:18</p> <p><b>munich</b> 68:11</p> <p><b>muscular</b> 55:15 55:18 59:15,17 60:19</p> <p><b>mutant</b> 30:16</p> <p><b>mutation</b> 30:12,13 69:16 71:18,20 72:3,7 110:7 112:21 259:4,5 260:16,19</p>	<p><b>mutations</b> 30:21 33:15,18 58:5 67:4 110:6</p> <p><b>mutual</b> 309:20</p> <p><b>mutually</b> 273:20</p> <p><b>myelogenous</b> 30:17</p> <p><b>myeloma</b> 15:13</p> <p><b>mystery</b> 208:22</p> <p><b>m's</b> 167:7</p> <hr/> <p><b>n</b></p> <hr/> <p><b>n</b> 6:3 9:10</p> <p><b>nambiar</b> 3:13 15:22 16:1</p> <p><b>name</b> 7:7 8:16 12:19 13:2 14:6,9 14:13 16:17 17:1 28:2 76:19 90:22 136:15 149:22 165:16 184:18 185:20 208:2 243:19 281:16 302:22</p> <p><b>name's</b> 5:2 218:10</p> <p><b>narrative</b> 203:3</p> <p><b>narrow</b> 131:22,22 132:1 195:6</p> <p><b>narrower</b> 26:13</p> <p><b>nathan</b> 7:5,5</p> <p><b>native</b> 69:2</p> <p><b>natural</b> 37:17,20 49:17 50:7 63:10 132:18 133:3 181:13 241:21 245:19 246:6,8,11 247:6,14,22 248:8 248:12,13,16,19 248:21,22 252:9 257:4,8 278:10 285:10,15,22 291:6 295:14</p> <p><b>nature</b> 20:12 96:4 114:13 158:20 178:16 238:8</p>	<p>287:22</p> <p><b>navigate</b> 228:11</p> <p><b>navigating</b> 281:19</p> <p><b>nda</b> 118:12,12 124:16,17</p> <p><b>ndas</b> 236:1</p> <p><b>near</b> 10:16 258:12</p> <p><b>neat</b> 69:12</p> <p><b>neatly</b> 287:4</p> <p><b>necessarily</b> 20:21 35:21 36:8 65:21 85:13 127:4 139:5 204:18 206:20 219:12 222:6</p> <p><b>necessary</b> 10:2 24:4,16 33:1 65:9 98:5 102:9 186:9 209:12 244:16 252:15,22 304:9</p> <p><b>necessity</b> 151:7</p> <p><b>neck</b> 13:13 277:10</p> <p><b>need</b> 6:19 7:2 8:3 21:9,15,20 22:4,9 22:10,10,14 23:11 23:13,15 34:18 36:9 40:3 41:6 43:13,20 45:17 47:15 49:19 50:12 51:6,11,14 65:4 68:6 72:18 74:18 82:10 85:1 86:3 86:10 95:7,19 103:6 105:12 116:19 118:6,7 128:15 129:19 131:6 133:13,21 135:13 140:2,18 141:1 143:17 158:13 161:8,17 163:18 164:2 176:13,15 181:7 182:5 185:16,21 187:3 197:20,20 230:2 234:17</p>
---	--	--	---

241:9 249:4 253:8 253:10,22 270:21 278:4,11,14 284:15 286:6 309:17 <b>needed</b> 12:8 51:14 94:4 188:6 198:18 226:5 261:13 292:21,22 <b>needs</b> 23:13 36:8 37:5,6 112:15 113:4 123:15 138:6 142:18 145:22 147:10 149:11 269:15 279:12 290:6 <b>negative</b> 200:13 275:18 <b>negatively</b> 279:21 <b>neither</b> 168:23 314:11 315:7 <b>neoadjuvant</b> 74:15 75:14,15 76:6 119:5 <b>nephritis</b> 185:18 <b>nephrology</b> 157:21 158:5,8,16 158:19 159:8 160:17 163:2,14 164:5,19 165:1 <b>nerve</b> 255:11 <b>nervous</b> 84:1 <b>net</b> 163:8 <b>network</b> 7:7 56:5 85:14 114:1 190:7 190:13 284:22 <b>networks</b> 113:17 177:1 <b>neural</b> 177:1 <b>neurodegenerati...</b> 77:3 <b>neurologic</b> 14:5 <b>neurology</b> 14:21 15:1 106:5,11	111:15 136:8 183:3 249:21 250:18 251:10 283:21 284:1 <b>neuromuscular</b> 55:19 56:1,3,7,9 56:14,17,17 57:1 57:4,13,15,22 58:1,9,11,14,15 58:17,18 59:1,11 59:13 60:3,6,17 61:1,5 62:19 63:5 <b>neuropath</b> 111:8 <b>neuropathy</b> 185:18 264:5 <b>never</b> 71:18,21 83:10 90:1 195:15 <b>new</b> 1:6,15 5:3,4,7 5:20 10:8 12:5,10 12:21 17:5,11 18:2,16,19,20,22 19:10,21,22 20:5 20:11,17 21:22 22:22 23:3 27:11 27:12,16 28:11 29:3 31:2,15,15 33:8 56:3,20 59:4 61:22 62:1,3,4,5 71:9,13 73:8 74:18,19 75:22 91:19 104:17 107:6,7 108:14,21 109:1 114:8 117:15 118:4,13 143:7 144:11 158:3,4 160:19 161:18 164:16,20 165:1 168:5 176:1 180:11 181:6 189:9 191:5,16 194:9 196:1,5 198:2 202:11 213:19 216:22 235:15,18,20	236:2 238:5,6 249:17 251:7 267:21 268:8,12 268:17 269:3,6,8 272:17,22 276:17 281:19 284:8 285:3 287:8 288:4 289:4 290:21 292:21 293:18,19 294:9,13,19 305:5 <b>newer</b> 21:18 279:7 <b>news</b> 56:12,12 <b>nice</b> 243:1 <b>nicholas</b> 3:21 14:20 <b>nick</b> 14:20 <b>nicole</b> 3:19 15:9,9 124:1 <b>night</b> 171:15 <b>nih</b> 217:10 296:21 <b>nikolay</b> 4:9 13:2,3 38:7,8 <b>nikolov</b> 4:9 13:2,3 38:7,8 <b>nilotinib</b> 30:18 <b>nimbleness</b> 20:4 23:19 <b>nine</b> 79:1,14 204:21 <b>nitty</b> 88:16 <b>non</b> 13:17 15:12 23:21 25:5,7,12 25:16,18 30:19 31:18 37:5,5 43:5 46:12,14 48:1 50:21 52:4,6,18 52:19 67:7 94:15 94:17,19 116:21 122:8,19 171:23 187:7,19 192:18 239:21 240:20 252:8,8 254:10 270:22 277:9 279:9 280:19	286:2 289:22 290:1 294:5 297:10 <b>nonclinical</b> 51:13 <b>nonpatent</b> 208:6 <b>nonprescription</b> 203:22 204:6 <b>nonprofit</b> 68:2 208:3 <b>nonrare</b> 241:15 <b>nontraditional</b> 189:16 190:3 <b>nord</b> 247:11 249:16 <b>normal</b> 261:9 <b>norman</b> 4:10 <b>north</b> 218:11 <b>notably</b> 160:16 <b>notary</b> 1:17 314:1 314:19 <b>note</b> 10:17 192:14 307:9 <b>noted</b> 119:19 249:19 271:7 280:16 <b>notes</b> 150:21 <b>notice</b> 313:9 <b>noticed</b> 181:10 <b>noting</b> 225:5 <b>novel</b> 11:16 12:8 28:5 34:16 102:10 185:8 188:9 189:16 190:3 193:2 250:6 284:10 297:21 299:4 <b>november</b> 1:10 <b>nude</b> 170:10,10 <b>number</b> 6:3 9:9 40:22 62:8 92:22 93:11 124:19 125:2 133:1 151:19 160:19 162:19 170:22
--	---	--	---

177:11,14 178:12 179:18,20 185:16 194:9,10 196:7,12 196:12 209:15 223:6 237:18 238:17 239:5 247:17 260:11 262:15,20 267:1 282:8 311:21 <b>numbers</b> 65:3 308:21 <b>nurses</b> 197:5 200:7 <b>nusinersen</b> 70:7	81:22 158:13 186:7 200:14 204:11 205:16 206:18 234:4,10 234:12 276:10 309:13 312:7 <b>occasion</b> 84:20 <b>occupancy</b> 167:14 <b>occur</b> 67:5 86:12 86:12 200:13 <b>occurred</b> 259:15 262:14 289:12 <b>occurrence</b> 311:3 311:9 <b>occurring</b> 127:13 260:5 <b>occurs</b> 117:2 <b>oce</b> 117:17 290:9 <b>odds</b> 273:8 <b>offer</b> 62:22 81:16 122:4 <b>offered</b> 79:10 170:4 <b>offering</b> 73:22 107:20 <b>offers</b> 62:18 269:16 <b>office</b> 1:6 5:3,4,7 12:5,10,21 17:5 18:16 19:21 22:22 23:3 62:2,9,10,12 90:3 114:8 141:17 164:16 196:22 208:3 223:6 268:12 269:6 272:22 283:1,3 284:2 287:2 289:13 290:21,22 <b>officer</b> 7:4 250:8 314:2 <b>offices</b> 62:5,9 88:1 192:1 282:1 287:1 287:3,17	<b>officials</b> 249:8 285:8 <b>offsets</b> 96:11 <b>oftentimes</b> 57:18 58:2,3,10,13,16 61:4 64:9 65:8,9 124:4 194:12 305:19 <b>oh</b> 39:11 59:6 205:9 255:3,17 306:1 <b>oha</b> 54:16 <b>okay</b> 5:1 90:18 127:15 128:18,19 130:16 131:9 132:11 133:1,20 145:8,9 147:16 149:22 165:5,12 167:12,17,17,17 167:21 168:10,14 169:5,16 170:2 173:10,13 175:3 208:1 235:7 256:14 273:22 281:13 312:20 <b>old</b> 79:1,14,18 160:4 168:6 <b>older</b> 90:12 <b>oligonucleotide</b> 67:1,19 68:4,18 71:20 72:7 <b>oligonucleotides</b> 69:13 70:2 71:6 71:11,22 <b>oligos</b> 70:6 72:4 74:6 <b>once</b> 61:8 69:14 118:3 120:15 123:12 143:8 194:13 206:5 258:7,14 298:8 <b>oncological</b> 52:17 <b>oncology</b> 13:12 15:15,18 43:5	46:14 50:16 52:5 52:6,11,18,19,22 91:15 103:21 104:7 105:9,13,16 105:17,22 106:18 108:11,11 109:22 111:7,8 117:17 118:2,7,10,22 119:2 124:2 160:16 163:15 164:21,22 185:22 249:21 250:5 270:21 276:8,22 279:8,9 280:17,19 281:4 290:8 294:14 309:14 <b>ond</b> 5:13 10:4,6,10 10:10,14 11:2,13 18:20 19:7,16 22:22 62:2,3 67:6 103:19 107:19 185:3 194:4 206:15 244:13 249:11,22 250:2,8 250:11 251:3 269:4 283:1 287:17 289:13,18 300:3 <b>ond's</b> 313:1 <b>ones</b> 8:15 16:15 81:21 90:21 165:15 184:7 239:20 243:17 246:14 284:22 302:6 <b>onevoice</b> 63:4 <b>ongoing</b> 10:8,18 59:14 81:13 124:3 139:9 158:20 159:2 161:16 194:10 236:11 268:5 <b>online</b> 205:18 243:10
<b>o</b>			
<b>o</b> 190:14 <b>o'dowd</b> 302:21,22 310:18 312:3 <b>oak</b> 1:13 <b>objection</b> 165:10 <b>objective</b> 104:6,7 107:13 132:6 187:3,12 254:16 254:18 255:7 <b>objectives</b> 73:3 189:6,15 <b>objects</b> 176:22 <b>observation</b> 222:5 <b>observational</b> 63:5 <b>observations</b> 87:21 309:7 <b>observe</b> 211:5 <b>observed</b> 32:20 90:1 <b>obstacles</b> 128:19 <b>obtain</b> 180:13 <b>obtaining</b> 44:14 <b>obviate</b> 43:20 <b>obvious</b> 78:6 219:6 226:20 <b>obviously</b> 23:21 24:14 25:8,10 26:7 38:12 45:19			

<p><b>open</b> 6:1 34:14 47:17 59:21 97:7 98:10 102:8 112:22 141:19 178:16 181:4 217:21 240:5 293:8 313:8</p> <p><b>opened</b> 73:2</p> <p><b>openfda</b> 219:2 220:5 227:13 233:10</p> <p><b>opening</b> 7:15 9:13 94:21</p> <p><b>openness</b> 233:17</p> <p><b>opens</b> 32:20</p> <p><b>operating</b> 100:12 239:16</p> <p><b>operational</b> 128:10 129:19 280:4,9</p> <p><b>operations</b> 17:8</p> <p><b>ophthalmology</b> 13:7 14:15</p> <p><b>opinion</b> 96:8 149:15 195:16</p> <p><b>opportune</b> 5:13 175:12</p> <p><b>opportunities</b> 1:5 7:21 17:4 57:20 61:3 62:18,21 93:20 96:14,20 107:20 114:7 115:19 117:18 119:4 120:11 175:10 193:17 281:10 282:6 286:7,10 292:19 295:22 303:20 309:2</p> <p><b>opportunity</b> 5:9 8:11 10:4 16:6,19 28:1 31:17 42:10 43:18 44:5 54:13 80:20 82:21 91:3</p>	<p>91:7 102:15 114:6 114:15 121:10 126:18 136:20 165:19 185:2 189:5 201:9,22 227:18 243:21 272:15 277:20 278:22 282:4,21 283:3 286:2 289:14 300:14 303:11,14 305:10 305:13 308:4,12 310:6,19</p> <p><b>opposed</b> 306:6</p> <p><b>opposite</b> 90:2</p> <p><b>opted</b> 212:11</p> <p><b>optimal</b> 150:14 153:13 172:8 200:18</p> <p><b>optimization</b> 143:13</p> <p><b>optimize</b> 164:12 230:11</p> <p><b>optimized</b> 268:18</p> <p><b>optimizing</b> 105:22 295:14</p> <p><b>option</b> 253:13,14 253:17,17</p> <p><b>optional</b> 210:3</p> <p><b>options</b> 94:7,11</p> <p><b>order</b> 6:20 30:13 41:6 51:15 68:6 75:22 103:3 105:3 107:1 138:7 167:9 176:14 182:6 197:7 241:9 284:17</p> <p><b>ordered</b> 259:11</p> <p><b>ordering</b> 6:19</p> <p><b>org</b> 88:19</p> <p><b>organ</b> 174:3</p> <p><b>organization</b> 68:2 92:15 102:19 108:13,21 208:3</p>	<p>214:1 250:1 269:5 292:3</p> <p><b>organizations</b> 114:11,22 281:18 284:12,15,20 285:3 286:7,14,16 286:19 287:21 288:14,18 289:21 296:22</p> <p><b>organize</b> 288:16</p> <p><b>organized</b> 7:20</p> <p><b>organizers</b> 84:13</p> <p><b>orientation</b> 192:20</p> <p><b>original</b> 33:22 154:2 210:10,11 235:20</p> <p><b>originated</b> 58:2</p> <p><b>orphan</b> 132:12 208:7 210:11,12 210:18,22 211:3 211:13,16,20 213:9</p> <p><b>ots</b> 68:1</p> <p><b>outcome</b> 34:19 93:3 104:5,7,16 106:20 192:3 201:3 213:20 224:5 285:11 314:16 315:12</p> <p><b>outcomes</b> 31:18 32:16 33:1 34:5,5 34:11 39:5 63:22 104:17 110:13 195:21,22 197:11 201:15 202:4 215:19 218:20</p> <p><b>outgoing</b> 77:5</p> <p><b>outline</b> 53:7 103:17 158:17 293:5,9 312:18</p> <p><b>outlined</b> 53:14 163:19</p>	<p><b>outputs</b> 158:9 288:6</p> <p><b>outside</b> 25:3 44:13 90:2 101:18 114:10 200:6 250:17 257:1 258:16 281:3 289:8 297:10</p> <p><b>outward</b> 114:13</p> <p><b>overall</b> 40:9 57:4</p> <p><b>overarching</b> 242:22</p> <p><b>overtime</b> 291:19</p> <p><b>overview</b> 232:22</p> <p><b>owns</b> 39:19</p> <p><b>ozlem</b> 4:1 14:13 14:13</p> <p><b>o'dowd</b> 3:11</p>
<b>p</b>			
<p><b>p</b> 152:17 153:5,6,9 153:19 157:6,8 171:5</p> <p><b>p.m.</b> 313:20</p> <p><b>pace</b> 62:9 289:20</p> <p><b>package</b> 48:11,15 50:20,22 51:3 75:10 102:10</p> <p><b>packages</b> 236:21</p> <p><b>packet</b> 298:15</p> <p><b>pain</b> 13:10</p> <p><b>painting</b> 76:21</p> <p><b>paired</b> 302:6</p> <p><b>panel</b> 8:7,11,12 16:19 59:4 91:3,6 92:20,22 93:1,3 165:19 243:21 260:14 312:20</p> <p><b>panelists</b> 7:16 12:17 16:4</p> <p><b>panel's</b> 165:9</p> <p><b>paper</b> 73:8 117:22 178:15 182:10 212:16,18 214:9 214:11 276:17</p>			



<p><b>papers</b> 35:2 115:3  <b>paradigm</b> 268:17  <b>parallel</b> 31:9  240:10  <b>paralyze</b> 119:14  <b>parameters</b> 104:8  266:18  <b>parent</b> 59:17  82:11 256:16  <b>parents</b> 81:6 83:4  83:5 90:9 201:1  <b>parexel</b> 274:12  <b>parkinson's</b>  185:19  <b>part</b> 7:12 18:14  19:3 32:9 36:20  37:20 38:4 40:11  40:12 41:1 48:7  67:2,16 75:1 87:6  113:18 124:22  140:10 141:22  142:4 150:10  155:6 157:13  173:1 178:10  182:3 184:12  195:2,8 199:13  203:3 219:9 262:2  267:2 283:1  302:14,22 303:13  306:3  <b>partake</b> 138:7  <b>participant</b> 8:6,7  64:9 164:13  <b>participants</b> 2:1  32:11  <b>participate</b> 20:22  62:20 143:7 212:8  212:10 247:18  <b>participated</b>  139:20 296:9  <b>participating</b> 94:1  283:7  <b>participation</b> 5:19  16:9 45:22 62:21</p>	<p>138:20 145:4  283:5  <b>particular</b> 7:22  10:22 12:2 31:14  49:2,20 86:9 88:2  91:9 92:12 129:22  130:1 175:12  178:6 193:3  200:21 204:15  216:12 244:7  256:21 257:1,21  260:6,8,10,13,19  262:1 263:16  264:12 282:18  302:10 308:14,17  <b>particularly</b> 5:13  10:15 11:17 46:20  55:3 60:5 91:18  93:17 118:22  123:14 148:4  160:10 164:6  188:10 194:4  197:12 198:4  257:6 259:12  266:5 280:22  284:19 289:15  297:13 302:11  305:15 309:11  310:12  <b>parties</b> 113:5  314:12,14 315:8  315:11  <b>partner</b> 19:10  93:20 136:16  <b>partnered</b> 32:1  <b>partners</b> 40:14  79:11 287:15  <b>partnership</b> 32:3  40:3 81:8 225:2  228:1,3 230:20  231:10 296:17  <b>partnerships</b>  180:21 191:1  296:10</p>	<p><b>parts</b> 303:17  <b>party</b> 101:7  <b>passed</b> 286:22  <b>passionate</b> 68:17  91:8  <b>password</b> 7:8  <b>patents</b> 213:17  224:15  <b>path</b> 43:14 44:6  59:17 85:5 126:16  178:11 180:22  190:20 287:2  <b>pathogenic</b> 263:19  <b>pathogenicity</b>  264:11  <b>pathological</b>  119:20  <b>pathologically</b>  162:20  <b>pathology</b> 159:11  <b>pathophysiology</b>  109:8,13,17 245:5  <b>pathway</b> 30:21  34:2 36:1 38:19  39:3 57:21 76:5  186:18 201:20  207:10 223:20  297:18  <b>pathways</b> 74:8  107:11 162:12  180:11 271:4  286:14  <b>patience</b> 310:5  <b>patient</b> 10:19 18:2  30:13 35:22 36:8  45:4,12,16,21  49:10 50:10 55:19  56:3 58:4 59:9  60:9 61:21 62:4,7  62:10,12,15,21  63:2,3,19 64:4  65:2,18 66:15  73:17 74:9 78:21  81:7 85:8,9</p>	<p>111:13 112:4  115:14 117:5  124:21 131:13,14  133:2 138:17,21  138:21 171:3,11  186:8,13 187:14  195:2,21 202:14  205:8 215:6,7,19  217:8 222:14,18  222:21 223:5,10  229:14 238:13  239:3 245:16  248:12 259:14,17  263:1 264:21  266:10 274:20  278:14 281:18  282:2 284:9,10,12  284:13,15,20  285:3,19 286:1,7  286:13,16,16,19  287:21 288:2,3,5  288:9,14,18  289:20 290:4,5,17  290:17 291:4  295:15  <b>patient's</b> 254:19  261:2  <b>patients</b> 30:9  31:18 33:6,11  34:7 35:15 36:3,9  39:1 44:1,22 45:8  45:8,18 46:6  47:14 50:2 51:3,4  62:16 64:7,15  66:14,18 67:4  72:9,20 73:4,10  73:14,17,19 74:4  75:17 85:3,4,10  85:15 86:1 87:1  90:8,9 111:10  112:4 114:22  117:15 119:13  120:9,16 125:2  128:11 131:15</p>
--	--	---	--

137:21 138:6,12 139:14 141:8 143:11 144:11 145:11 148:9 149:11 161:9,11 161:15,22 162:3 162:13 163:3 164:1 175:15 177:19 181:6 183:20 188:6 195:10,12,15,18 197:7 199:5 200:1 202:5 204:2,4 205:15,19 211:6,7 211:15 215:5,17 245:1 247:5 248:20 255:21 256:20 257:5,12 260:11 265:12 267:17 272:17 275:6 276:12 278:4,5,11,13 290:17 305:8 308:21 309:16 <b>patients'</b> 197:10 201:4 <b>patient's</b> 120:7 140:16 199:3 <b>patrizia</b> 2:2 9:11 9:14 <b>pattern</b> 141:7 <b>patterns</b> 221:5 263:11 <b>paul</b> 2:8 55:13,14 63:20 65:7 66:11 <b>pave</b> 188:4 <b>pay</b> 6:20 242:5 <b>payer</b> 258:17 <b>payers</b> 94:18 <b>payment</b> 7:2 <b>pazdur</b> 250:4 <b>pcsk9</b> 33:10,13,20 34:8 36:2,4 39:9	<b>pd</b> 165:21 166:15 168:14,14,17,18 168:19 <b>pd50</b> 167:20 <b>pdf</b> 227:3 <b>pdufa</b> 22:19 27:6 27:7 <b>pdufas</b> 22:6 <b>pediatric</b> 13:15 14:8 58:13,15 86:11 87:1,1,15 87:17 88:4,7,10 88:22 89:14,19,22 90:4,9,11 148:7 148:10,14 183:1,5 208:8 212:17,20 212:21 217:5,5 243:7 294:14 295:1,8 307:10 <b>pediatrician</b> 88:20 <b>pediatricians</b> 86:19 90:6 <b>pediatrics</b> 148:2,5 182:16 238:22 271:6 <b>peds</b> 89:13,16 182:18 <b>people</b> 21:13 24:17 33:17 67:8 72:1,14 73:1,3 74:3 112:10 125:19 134:21 148:20 158:11 161:7 172:17 179:21 195:1 196:20,22 197:17 198:1,7,12 199:18 202:6 203:10 204:9,13,22 205:1 211:2,18 212:9,11 212:11 214:7,14 214:19 215:11,22 233:12 247:14 248:1,4 253:21	254:1 259:5 263:11 264:4 280:4 301:17 308:1 <b>perceive</b> 312:9 <b>perceived</b> 273:10 <b>percent</b> 33:16 97:15 139:20 141:15 153:22 154:4,5,7,22 155:4 158:11 196:21 200:9 211:21,22 225:21 229:22 264:4 275:9 276:16 <b>percentage</b> 35:14 <b>perception</b> 92:2 279:20 280:4 <b>perceptions</b> 275:18 <b>perfect</b> 79:22 119:15 141:15 <b>perfectly</b> 85:4 <b>perform</b> 17:9 <b>performance</b> 20:18 <b>performed</b> 155:7 <b>performing</b> 153:11,20 154:12 155:9 <b>performs</b> 154:11 <b>period</b> 78:11 189:4,10 215:3 217:19 226:3 231:13 234:3 261:10 <b>periodically</b> 189:21 <b>permanent</b> 49:11 <b>permeate</b> 17:21 <b>permeated</b> 11:11 <b>permission</b> 165:9 <b>permit</b> 86:19	<b>permitted</b> 159:2 208:21 <b>permutation</b> 157:12 <b>person</b> 24:19 26:7 26:9,10,17 116:21 130:5 178:6 250:9 251:3,4 256:8 260:6 261:11,21 262:14,17 <b>person's</b> 255:22 <b>personal</b> 148:10 233:20 288:22 <b>personally</b> 37:8 <b>persons</b> 26:12 <b>person's</b> 177:3 <b>perspective</b> 42:15 48:14 102:7 118:6 120:3,7 124:22 234:9 273:13 282:2,3 304:17 305:11 <b>perspectives</b> 7:17 185:2 195:1 205:5 <b>pertaining</b> 7:18 <b>peter</b> 2:5,17 16:21 17:1,2 23:14 24:9 24:12 25:6 26:6 27:3,21 136:14,15 145:20 148:7,22 149:20 <b>pfdd</b> 286:17 288:9 288:16 289:3,9 <b>pfizer</b> 184:19 <b>ph</b> 167:13 <b>pharm</b> 50:9 <b>pharma</b> 91:13 175:20 <b>pharmaceutical</b> 91:11 131:17 226:14 267:12 274:5 <b>pharmaceuticals</b> 28:4 46:12 74:13
--	---	---	---

<b>pharmacobiody...</b> 170:3	<b>physiologically</b> 173:9	<b>plane</b> 83:11	<b>point</b> 23:5 27:9
<b>pharmacodynamic</b> 166:3 170:3	<b>pi</b> 82:9	<b>planning</b> 67:11 191:22 236:11	44:11 69:8 75:8 83:6 85:17 88:2
<b>pharmacogenetics</b> 29:11,15	<b>pick</b> 104:3,3 107:10 206:11	<b>plans</b> 53:8 187:5	116:21 146:13 151:1 155:14,14
<b>pharmacokinetics</b> 129:18	<b>picking</b> 7:3	<b>plant</b> 216:6	155:16 168:6,8,13 169:21 170:10,13
<b>pharmacological</b> 166:12 252:10	<b>picture</b> 221:11	<b>platform</b> 59:13 70:2,5 71:5 82:18	170:22 171:12 176:11 187:8
<b>pharmacology</b> 51:1 243:9	<b>pictures</b> 177:2	<b>platforms</b> 69:22 70:8,17 286:2	201:10,17 206:12 208:10 213:13
<b>pharmacy</b> 258:17	<b>pieces</b> 202:21 295:17	<b>play</b> 88:15 146:19	229:10 232:7,14 234:17 258:6,9,10
<b>pharmas</b> 76:11	<b>pilot</b> 94:2 117:17 118:18 124:6	<b>plays</b> 81:3	261:20 264:13 273:15 288:18
<b>phase</b> 92:14 106:22,22 132:7,8 253:7 260:9 264:14 266:11 275:9 276:21,21	139:8,8,14 144:15 147:13 148:14 191:1,11 194:10 194:13 299:9	<b>please</b> 6:8,11 7:6,6 8:4,15 9:4 12:18 16:16,18 17:5 90:21 91:2 100:4 165:15,18 166:10 243:17,20	<b>pointed</b> 130:22 249:3
<b>phelps</b> 281:16	<b>pilots</b> 121:19 122:1 124:3,13 271:11,18	<b>pleased</b> 188:16	<b>pointing</b> 146:19
<b>phenotype</b> 32:17 263:15	<b>pipelines</b> 282:15	<b>pleasure</b> 281:22	<b>points</b> 147:1 149:12 170:23 197:10 206:11 219:7 227:5 230:17 271:9
<b>phone</b> 22:11 24:18 24:22 26:1,4,11	<b>pis</b> 83:5	<b>plenty</b> 57:1	<b>policies</b> 53:11 223:17 225:4 282:9
<b>phones</b> 141:9	<b>pitts</b> 2:5 16:21,22 17:1,2 23:8,14 24:9,12 25:6 26:6 27:3,20,21	<b>plot</b> 167:16,18 169:3,4,5 170:14	<b>policy</b> 5:3,6,7,11 10:14 12:21,21 18:16,20,20 19:12 19:16 21:9 22:21 23:3 48:13 62:1 62:22 64:11 84:17 85:22 87:3 88:17 114:19 184:19 204:18,21 218:11 225:1 235:1 242:22 269:6 272:14 273:13 283:8 287:7 289:19 292:3
<b>phosphonothioate</b> 69:2,4	<b>pivot</b> 87:4	<b>plug</b> 234:2	
<b>photo</b> 177:1	<b>pivotal</b> 192:18	<b>plus</b> 171:3 251:18	
<b>photos</b> 80:18	<b>pivots</b> 85:22	<b>pmc</b> 185:7 188:11 188:15 189:1 193:1 297:20 298:4	
<b>phrma</b> 114:21 267:13,14,19 268:3,3,16,22 269:4,16,18,22 270:4,12 271:10 271:16,19 272:18 273:17	<b>pi's</b> 142:8	<b>pmcs</b> 188:20 189:10 190:8 193:5	
<b>physical</b> 173:4,4	<b>pk</b> 168:14,16,17 168:19,22 169:1 173:9	<b>pmr</b> 185:7 188:11 188:20 189:1,10 193:1 297:20	
<b>physician</b> 36:9 138:13 139:15 140:3 157:19 259:17 263:7	<b>place</b> 6:19 18:13 20:13 73:22 81:21 138:5 238:15 243:1 298:9	<b>pmrs</b> 188:15 190:8 193:5	
<b>physicians</b> 35:15 85:15 138:7 143:5 143:11,13	<b>placebo</b> 104:15 106:20 107:1 178:7 179:13 183:18,19,21,22	<b>podium</b> 8:10,15 9:12 12:15 16:16 16:18 90:22 91:3 165:16,18 243:18 243:20 283:8	

<p><b>pompe</b> 63:2</p> <p><b>poorly</b> 154:11 159:9</p> <p><b>population</b> 38:22 65:19 66:6,9,10 103:7 115:14 161:5 187:14 238:21 257:20 258:4,14,22 264:18 265:15,15 266:5,10</p> <p><b>populations</b> 58:5 58:13,16 65:2 86:13 133:8,9 183:5 264:21</p> <p><b>portfolio</b> 11:22 93:15</p> <p><b>posed</b> 237:1</p> <p><b>position</b> 44:17 259:16</p> <p><b>positive</b> 25:14 212:9 260:16</p> <p><b>possibility</b> 250:3 311:8,9</p> <p><b>possible</b> 8:5 64:16 66:14 72:8 111:22 177:5 181:7 200:15 209:8 223:11 245:14 258:15 262:21 291:20 294:12,18 301:3 313:17</p> <p><b>possibly</b> 53:14 66:9 79:10 83:3 238:12 248:10 250:12 262:10,15</p> <p><b>post</b> 68:14 97:10 97:13,17,17 188:15,18 189:11 189:19,21 190:5,6 190:14 193:7,14 272:6,6 298:5</p> <p><b>posted</b> 235:19 236:1</p>	<p><b>poster</b> 68:12</p> <p><b>posting</b> 235:15 236:18 240:17,20</p> <p><b>posts</b> 250:6</p> <p><b>postulate</b> 308:10</p> <p><b>potency</b> 167:8,20 168:3</p> <p><b>potent</b> 18:2 19:9</p> <p><b>potential</b> 33:7 37:16 49:6 68:18 72:17,19 75:6 80:12 98:1 114:6 118:9 119:21 123:4 194:5 226:8 228:16 234:12 236:8 238:9,12 242:12 282:11 284:4 311:4</p> <p><b>potentially</b> 36:17 45:13 51:17 81:21 82:3 111:22 116:13 118:11 180:8 188:6 190:4 195:6 262:16 263:6 264:10 265:14 278:1,6 280:18</p> <p><b>potentials</b> 73:11</p> <p><b>potently</b> 39:3</p> <p><b>power</b> 153:2 154:4 154:7,10,15,22 155:3,8 167:7</p> <p><b>powerful</b> 131:16 168:10</p> <p><b>practical</b> 37:2 244:13 285:19</p> <p><b>practically</b> 83:7</p> <p><b>practice</b> 36:16 186:14 189:19 191:20 194:4 254:4 263:11 279:6</p> <p><b>practices</b> 208:18 229:4,6 230:9</p>	<p>270:5 271:17 282:9 288:12 306:18 309:22</p> <p><b>pre</b> 54:18 55:1 125:9,9 150:5,7 150:14 151:10,12 152:15,16,18 155:1,12 156:9,10 156:22 157:4,4 192:11 253:7 286:11 298:7</p> <p><b>prea</b> 243:8 294:13</p> <p><b>precedence</b> 89:1</p> <p><b>precedent</b> 12:13 221:19</p> <p><b>precedents</b> 237:14</p> <p><b>precise</b> 11:10 225:13</p> <p><b>precision</b> 28:3 37:18 128:6 131:10,12 134:4 238:19 274:19</p> <p><b>preclinical</b> 42:14 187:21 294:5</p> <p><b>precluded</b> 101:18</p> <p><b>precompetitive</b> 36:18 37:1</p> <p><b>prediabetes</b> 204:9</p> <p><b>predict</b> 32:15 183:19 225:19 226:1 304:8</p> <p><b>predictability</b> 22:22 192:7 194:16 219:9,19 220:6 269:2,11 271:13</p> <p><b>predictable</b> 189:11 213:20</p> <p><b>predicting</b> 177:18 247:2</p> <p><b>prediction</b> 225:7 225:13</p> <p><b>predictions</b> 179:22</p>	<p><b>predictive</b> 85:20</p> <p><b>predominate</b> 171:20</p> <p><b>prefer</b> 152:13</p> <p><b>preference</b> 63:4</p> <p><b>preferences</b> 221:20 288:3 306:21 308:1</p> <p><b>preferred</b> 60:9 195:21</p> <p><b>pregnancy</b> 98:6 99:9,16</p> <p><b>premarket</b> 193:9</p> <p><b>prepare</b> 99:12 236:22 269:8</p> <p><b>prepared</b> 242:17 315:3</p> <p><b>prescott</b> 2:7 42:9 42:10 48:6,19 50:11 52:12,21 53:18 55:11 185:9</p> <p><b>prescription</b> 13:18 204:10</p> <p><b>present</b> 7:4,15 8:19 42:10 84:13 102:15 114:6 137:2 256:18 259:11 261:11 265:22</p> <p><b>presentation</b> 8:6,7 16:7 23:8 39:13 42:8 43:4 55:12 55:17 77:13 86:15 99:20 102:20 113:13 114:4 127:9 136:13 184:15 188:17 216:12 218:3 241:15 256:13 265:2 267:8 274:1 281:14 289:7 291:13 293:5 302:19</p>
---	---	---	---

<p><b>presentations</b> 223:22 241:3 7:17,20 8:13,17 8:21,22 9:2 16:14 68:13 90:20 122:8 147:18 165:14 199:12 243:16 312:22</p> <p><b>presented</b> 9:8 173:2 293:9 313:12</p> <p><b>presenter</b> 8:2,9,9 105:12 107:7 233:14</p> <p><b>presenters</b> 256:18 313:1</p> <p><b>presenting</b> 6:11 42:13 76:18</p> <p><b>president</b> 16:21 67:18 102:17 165:21 233:22 267:11 291:22 292:1</p> <p><b>prespecify</b> 153:8</p> <p><b>press</b> 7:4 21:12</p> <p><b>pretty</b> 89:15 143:20 149:17 220:17 255:11</p> <p><b>prevalence</b> 48:11</p> <p><b>prevalent</b> 47:19 297:12 302:7,11 302:17</p> <p><b>prevent</b> 94:21 207:2 275:20</p> <p><b>prevented</b> 206:22</p> <p><b>preventing</b> 203:6 204:15</p> <p><b>prevention</b> 202:22 203:4 206:12,14 206:18,21 207:11</p> <p><b>previous</b> 61:19 90:20 105:12 106:16 107:7,22 112:5 143:14 160:15 165:14</p>	<p>243:16</p> <p><b>previously</b> 16:6 110:3 116:2 257:4</p> <p><b>price</b> 208:21</p> <p><b>prices</b> 213:5,8,18</p> <p><b>pricing</b> 210:4 212:3</p> <p><b>primarily</b> 28:16 29:5 56:1 65:18 66:11 86:12 222:5 241:15 281:8</p> <p><b>primary</b> 66:6,9 104:19 122:14 150:5 161:3 185:7 197:9 208:10</p> <p><b>principle</b> 136:6 174:1,5</p> <p><b>principles</b> 176:6 191:19 219:5 304:19</p> <p><b>print</b> 205:16</p> <p><b>prior</b> 54:7 71:22 123:4 175:13 190:13 221:17 266:8 314:5</p> <p><b>priori</b> 183:19</p> <p><b>priorities</b> 1:5 17:4 108:15 116:12</p> <p><b>prioritize</b> 11:3 114:7</p> <p><b>prioritized</b> 103:20</p> <p><b>priority</b> 9:18 198:7 208:9 209:13,15,19,19 223:21</p> <p><b>private</b> 180:21 217:9 296:9,16</p> <p><b>privilege</b> 84:14,15</p> <p><b>privileged</b> 257:15</p> <p><b>proactive</b> 87:5 272:14</p> <p><b>probability</b> 92:21 93:8 311:3</p>	<p><b>probable</b> 262:22</p> <p><b>probably</b> 80:17 81:20 110:9,21 123:2 142:10 207:1,8,9 262:10 262:15 277:14 278:22 281:7 294:15 296:8,12</p> <p><b>problem</b> 18:13 27:17 130:1,7 137:19 139:16 260:20 261:3,15 261:17 309:20</p> <p><b>problematic</b> 102:3</p> <p><b>problems</b> 18:11 134:22 137:3 142:6 158:19 226:21 260:18 295:7</p> <p><b>procedurally</b> 312:5</p> <p><b>procedure</b> 156:16</p> <p><b>procedures</b> 102:5 143:12 146:7 149:16,18</p> <p><b>proceed</b> 90:19 165:13</p> <p><b>proceeding</b> 313:21 315:4</p> <p><b>proceedings</b> 314:3 314:5,6,9 315:6</p> <p><b>process</b> 5:14 19:3 23:16,18,18 25:2 28:9 60:16 71:12 73:4,19 81:19 86:7 92:14 117:8 117:13,20 120:15 133:6 143:1 144:10 176:5 177:16 188:11 189:7,9 191:13,16 192:13 194:6,14 226:7,18 310:17 312:10,16</p>	<p><b>processes</b> 118:16 218:20 237:7</p> <p><b>prodrug</b> 30:1</p> <p><b>produce</b> 156:16</p> <p><b>product</b> 10:2 28:21 37:5 101:17 205:12 209:18 210:15,22 211:1 213:10,11 220:11 221:3,3,15,21 230:13 282:20 284:18 290:1,18 291:8 292:6</p> <p><b>productive</b> 230:11 267:18 268:21 298:4</p> <p><b>productivity</b> 197:13 201:7</p> <p><b>products</b> 11:7 13:5,12,18,21 14:2,5,12,15,17 15:3,7,12,15 99:14 105:7,14 126:8 196:13 203:22 209:7,16 209:20 211:3,13 211:18 212:14 213:7 214:20 215:4 216:1 220:16,20 225:14 248:18 272:10 283:21 294:2 295:11 303:12,22 304:7 311:20</p> <p><b>professional</b> 203:21</p> <p><b>professionals</b> 85:16 202:5</p> <p><b>professor</b> 71:19</p> <p><b>proficiency</b> 140:2</p> <p><b>profile</b> 41:10 198:5 220:20</p> <p><b>profiles</b> 220:15 221:2</p>
---	---	---	--

<b>profitable</b> 211:1	<b>progressive</b> 96:15	218:17 236:7	150:5 157:2
<b>profound</b> 24:15	185:19 186:2	237:9 241:3	160:10,11 163:2
<b>prognostic</b> 247:1	<b>project</b> 24:13 25:1	<b>proposals</b> 98:11	188:13 264:14,20
248:1	59:17 108:1,5	216:17 218:13	266:17 310:14,15
<b>program</b> 10:9	125:12 191:13,14	240:4	310:20
25:11 43:21 47:22	<b>projects</b> 108:5	<b>propose</b> 34:20	<b>prove</b> 50:5 188:1
50:10 53:10 63:4	180:17	154:8 165:9 238:1	<b>provenance</b> 39:15
106:19 124:21	<b>promise</b> 79:10	292:22	39:22
180:12 194:11,11	81:16 82:18 83:9	<b>proposed</b> 169:16	<b>provide</b> 7:21 11:2
223:21 266:9	175:10 179:16	217:17 238:17	19:17 20:3 31:17
267:1 268:9,17	230:8	287:16	43:18 44:8 45:4
287:1,3 291:9,10	<b>promising</b> 77:16	<b>proposes</b> 276:20	46:4 50:19 56:6
306:18	77:22 78:18 79:21	<b>proposing</b> 44:2,4	68:15 70:11 94:19
<b>programmatic</b>	80:5	<b>proposition</b> 24:22	98:15 99:10
90:3	<b>promote</b> 5:12 11:5	<b>proprietary</b>	103:19 108:13
<b>programs</b> 1:4 9:21	12:10 93:20	101:11,13,22	112:4 122:21
11:17 12:11 18:8	107:19 180:11	102:2,8 286:2	131:13 145:13
21:19 23:15 25:14	227:19 268:14	<b>prospective</b>	149:16 175:15
26:14 32:6 43:17	269:13 270:21	184:13 248:21	188:6 193:1 220:2
60:1 67:1,3 76:4	272:13	<b>prospectively</b>	231:1 264:9
92:3 96:5 103:10	<b>promoting</b> 1:4	184:10 258:13	268:11,13 269:19
108:4 122:4	95:17 236:8	<b>prospects</b> 93:16	271:20 285:19
133:11 180:10	249:11 268:19	<b>protect</b> 234:17	287:14,20 293:22
191:2,11 194:10	<b>prompted</b> 85:2	<b>protected</b> 145:11	301:4,13 305:13
223:20 225:15	<b>promptly</b> 6:16	<b>protects</b> 186:12	<b>provided</b> 47:6
235:13 236:12	165:10	<b>protein</b> 30:16	190:16
237:16 266:2	<b>pronouncements</b>	159:14	<b>provider</b> 45:22
270:16 284:4	18:6	<b>protocol</b> 116:4,5,7	<b>providers</b> 33:6
287:5,19 299:10	<b>proof</b> 45:18,19	123:11 125:16	<b>provides</b> 10:10
306:7	134:5	128:4 137:14	121:9,9 131:12
<b>progress</b> 68:6	<b>propensity</b> 239:8	142:13 143:2,7	164:14 193:7
119:16 161:22	266:12	144:1,3,4 145:10	294:8
176:19 185:15	<b>proper</b> 169:1	146:10 158:16	<b>providing</b> 23:19
189:21 245:10	<b>properly</b> 220:8	159:5 160:13	28:1 47:3 48:15
288:2	225:6	161:1 162:9	142:7 183:13
<b>progressed</b> 78:17	<b>properties</b> 173:4	163:14 164:6,9,19	192:21 200:7
138:1	<b>proportional</b>	265:14	270:6 284:13
<b>progressing</b> 7:13	152:5	<b>protocols</b> 11:17	300:1 301:12
48:22	<b>proposal</b> 42:15	19:1 74:6 106:8	313:1
<b>progression</b> 48:12	43:9 44:12 45:1	113:15,16 115:10	<b>provision</b> 211:17
48:21 49:5 75:7	47:9 50:20 51:9	115:17 123:17	<b>psoriasis</b> 277:12
86:15 105:3	67:6 100:21 152:9	128:5 130:19	<b>psychiatrist</b> 89:12
181:14	152:14 213:21,22	142:3,8,10,16	<b>psychiatry</b> 14:19
	214:7,12 215:2	146:15 148:19,20	109:10 181:17

<p><b>psychosis</b> 106:7 110:14</p> <p><b>psychosocial</b> 197:11</p> <p><b>public</b> 1:17 7:16 9:7,15 10:5 11:1 11:12 16:5,13,22 17:2 34:21 35:3 40:7 96:21 103:18 149:17 151:3 153:18 180:21 192:5 203:3 220:1 220:22 222:21 227:19 229:3 232:12 240:8 284:13 295:22 296:9,16 301:3 314:1,19</p> <p><b>publication</b> 43:8 47:7 54:16 171:19 229:17 276:1</p> <p><b>publications</b> 226:11</p> <p><b>publicly</b> 17:20 227:8 232:6 249:8 274:16 301:4</p> <p><b>published</b> 183:11 222:12 224:4 225:18 236:4 294:17</p> <p><b>publishing</b> 94:13</p> <p><b>pubmed</b> 177:5</p> <p><b>pull</b> 258:8,15</p> <p><b>pulled</b> 177:4 225:15</p> <p><b>pulling</b> 240:8</p> <p><b>pulmonary</b> 13:4</p> <p><b>purchased</b> 32:2</p> <p><b>purpose</b> 10:13 99:2 193:20 231:20 296:17</p> <p><b>purposeful</b> 205:21 283:5</p>	<p><b>purview</b> 25:10 284:7 285:5</p> <p><b>push</b> 104:20 108:14 119:2 160:4</p> <p><b>put</b> 76:15 82:13 98:11 103:19 115:3 126:19 214:14 230:4,15 248:15 251:15 264:22 308:10</p> <p><b>putting</b> 99:20 115:9 188:17 245:18 288:15</p> <hr/> <p style="text-align: center;"><b>q</b></p> <hr/> <p><b>q&amp;a</b> 46:5 94:14</p> <p><b>qa</b> 102:18</p> <p><b>qualification</b> 180:10 211:15,15 287:3</p> <p><b>qualified</b> 180:9 211:3 314:7</p> <p><b>qualify</b> 65:22</p> <p><b>qualitatively</b> 32:15</p> <p><b>quality</b> 20:18 31:10 48:21 49:10 78:21 158:3 179:22 182:9 218:19 284:5</p> <p><b>quantifiable</b> 187:12</p> <p><b>quantitative</b> 166:3 171:21,23 255:12</p> <p><b>quantitatively</b> 170:23 171:13</p> <p><b>quantum</b> 244:16 252:14 253:9</p> <p><b>quarter</b> 264:18</p> <p><b>question</b> 16:7 17:14 23:8 25:7 25:22 26:21 35:20 36:14,20 38:12 39:11 40:16,17</p>	<p>41:8 47:17 48:8 50:12 52:3,8,13 55:11 64:20 66:2 78:3 81:5,13 87:14 89:5,8 101:4 112:7 113:11 122:17 125:3,7,22 126:15 135:17 141:18 144:13 149:1 172:7,17 182:1,22 188:9 190:19 192:16 193:16,18 193:19 194:12 205:5 206:8 207:16 216:9,21 231:6 233:7,15 235:10 237:9,18 241:13,17 242:8 243:2,6 255:14 257:10 260:17 263:6 264:9 276:14 278:9,12 297:9 298:20 302:1 304:4</p> <p><b>questionnaires</b> 104:12</p> <p><b>questions</b> 7:7 8:8 8:12 16:19 23:20 24:2 40:2 51:21 52:1 54:22 55:2,9 91:4 103:18 122:6 123:21,22 147:19 164:3 165:19 173:2 179:20 183:6 189:13 199:18 207:14 223:18 231:7 236:22 243:21 256:9,10 273:5 287:4 312:15,20</p> <p><b>quick</b> 84:16 135:17 199:3 309:10</p>	<p><b>quicker</b> 162:12</p> <p><b>quickly</b> 8:4 28:7 58:12 64:16 78:9 105:20 117:16 151:1 181:7 232:4 251:13</p> <p><b>quit</b> 139:21</p> <p><b>quite</b> 47:18 52:13 57:7 58:12 78:17 102:8 122:10 137:15 154:7 160:18 186:6 187:1 188:1,16 274:17 294:6,10 295:2 305:3,22 311:10,12,13</p> <p><b>quo</b> 17:16 19:8 21:6</p> <p><b>quote</b> 147:9</p> <hr/> <p style="text-align: center;"><b>r</b></p> <hr/> <p><b>r</b> 3:18</p> <p><b>r&amp;d</b> 91:13 102:18 175:20 186:3</p> <p><b>race</b> 202:20</p> <p><b>raise</b> 5:5 76:4 217:16 229:10</p> <p><b>raised</b> 241:2 293:16 303:6 304:5</p> <p><b>random</b> 183:18 209:14</p> <p><b>randomization</b> 92:18 135:10 162:10 179:1</p> <p><b>randomized</b> 107:1 245:12 246:13,14 246:21 247:19,20 247:21 248:11 278:15,17</p> <p><b>range</b> 7:18 70:12 195:7 198:4,6,10 199:1,10,16,21 200:3,9,17,17 202:3,8 225:12</p>
--	---	--	--

<p><b>rank</b> 156:2,7,8  <b>rapid</b> 45:17 49:5  60:10 125:22  126:7  <b>rapidity</b> 48:12  <b>rapidly</b> 17:10  48:22 69:3 85:16  130:12 186:1  245:10 247:3  265:11 268:6  <b>rare</b> 13:14 17:8  47:19 49:19 50:1  67:3 73:14 77:2  91:15 110:5,5,6  159:18 161:4  162:15 239:20  241:15,20 242:11  244:3,6,8,17  245:1,4 246:22  249:2,14,17,19,20  250:2,13,18,21  251:1,9 252:15,22  253:1,11 254:1,9  254:10,11 256:22  257:6,7 263:8  266:5 270:20,22  278:1,2 283:14  284:19 285:16  302:8 309:4  <b>rarely</b> 236:3  <b>ras</b> 30:21  <b>rate</b> 110:3 135:10  276:12,12  <b>rates</b> 152:5 186:1  <b>rating</b> 109:20  <b>ratio</b> 167:6 169:20  169:20 172:8  <b>rational</b> 43:11  306:8  <b>rationale</b> 98:16  189:12 190:16  <b>ratios</b> 135:10  <b>raw</b> 154:2,6</p>	<p><b>rct</b> 246:20  <b>reach</b> 69:8  <b>reached</b> 72:1  <b>reaching</b> 67:6  92:21 93:8 214:7  <b>reactions</b> 29:19  30:7  <b>read</b> 73:8 202:14  205:14,15 253:14  <b>reader</b> 242:4  <b>readily</b> 33:6  <b>ready</b> 7:14  <b>real</b> 10:19 18:22  92:7 98:9 105:1,5  105:17 116:16  117:16 118:2,7,10  120:19 121:8  128:2 176:20  177:3,10,20 190:7  193:11 198:7  236:16 256:9,20  258:2,12 265:7  274:21 277:19,21  278:10 279:11  295:15 297:21  301:7  <b>realistic</b> 82:19  177:2  <b>realities</b> 298:11  <b>reality</b> 35:10  81:16 257:7 279:6  <b>realize</b> 306:1  <b>realized</b> 36:16  80:10 118:3  179:18  <b>really</b> 18:11 22:3  24:3,18 25:6  26:13 38:11 40:2  52:8 53:13,20,21  54:7,14 55:20  56:13 59:3 62:1  64:7 68:6,22 69:3  69:5 72:16 73:3  77:11 78:18 79:12</p>	<p>80:7,19 81:12,17  83:4,20 89:9  93:22 106:3 107:2  109:17 115:10,18  116:7 118:15  121:11 122:2  123:3,19 127:11  127:17 129:1,10  129:21 130:6  131:6,12,18,21  132:1,6 133:3,12  133:21 134:16  135:5,8,9 145:3  146:19 148:8  163:15 175:12  176:8,19 179:18  185:12,22 187:1,6  188:5,19 192:6,10  195:16 196:8  197:6 198:14  199:10 202:18  203:2 204:1,7,14  205:1,20 206:5  207:16 208:14  209:1,7 213:8,11  214:14,16,17  218:8,9,20 222:5  222:10 224:22  229:10 231:3  232:4 240:21  241:4 247:2  251:15 256:8  257:16 275:8  276:4 277:2 279:2  280:12 285:21  290:13 292:9,14  299:8 305:21  307:21 309:18  <b>rearranged</b> 69:17  <b>reason</b> 132:13  161:22 182:3  275:17  <b>reasonable</b> 50:8  154:10 210:4</p>	<p>212:3  <b>reasonably</b> 209:12  <b>reasons</b> 92:1 98:5  106:17 215:21  294:15,16 298:14  302:3 307:22  <b>reassessment</b>  280:7  <b>reassuring</b> 50:5  <b>recapitulate</b> 32:19  <b>recapitulated</b>  33:21  <b>receipt</b> 7:2  <b>receive</b> 10:5 24:15  93:18 94:17 178:7  179:13 236:6  243:8 313:15  <b>received</b> 78:15  261:21 313:14  <b>receiving</b> 78:14  269:9  <b>receptive</b> 167:15  <b>recognition</b> 197:7  <b>recognize</b> 17:13  50:1,7 94:4  114:10 116:19  117:7 120:18  126:16 176:21  185:1 204:17  219:8 303:5  <b>recognized</b> 71:19  118:1 144:2 226:9  238:14 284:15  <b>recognizing</b> 98:21  114:14 118:5  121:18  <b>recommend</b> 180:2  190:15 227:14  <b>recommendation</b>  65:5 86:6,9,18  87:15 88:5,11,13  169:16 269:16  <b>recommendations</b>  28:19 47:22 48:15</p>
--	---	---	--



75:10 84:18 85:22 180:7 189:8 204:3 225:4 242:18 300:16 <b>recommends</b> 270:4 271:16,19 <b>reconcile</b> 126:3 <b>reconfigured</b> 19:17 <b>reconvene</b> 165:9 <b>record</b> 9:3 39:17 174:14 253:15 300:6 302:15 314:9 315:5 <b>recorded</b> 314:6 <b>recording</b> 314:8 315:4 <b>records</b> 31:11,16 138:18 140:9 179:4,5 193:12 258:9 <b>recovered</b> 210:15 <b>recruited</b> 162:3,5 <b>recruitment</b> 135:10 163:21 <b>recurrent</b> 49:13 <b>red</b> 151:20 234:16 262:5 263:4 <b>reduce</b> 132:5 161:2 172:6 264:18 <b>reduced</b> 39:3 93:9 93:12 131:1 314:7 <b>reduces</b> 134:6 <b>reducing</b> 238:12 238:13 <b>reduction</b> 36:5 49:9 159:21 160:7 167:1,2 169:12,12 170:16 172:6,6 186:9 <b>redundant</b> 140:20 <b>reevaluated</b> 33:2	<b>reevaluating</b> 282:14 <b>reeve</b> 2:16 127:10 136:1 <b>refer</b> 305:17 <b>reference</b> 6:2 43:7 47:6 221:10 240:16 <b>referenced</b> 223:3 224:14 <b>references</b> 157:14 295:8 <b>referring</b> 49:22 264:15 <b>reflect</b> 292:14 298:10 <b>reflected</b> 128:3 295:17 <b>reflecting</b> 104:1 106:17 <b>reflection</b> 292:11 298:22 <b>reflects</b> 295:12 <b>reform</b> 185:7 <b>reforming</b> 208:5 <b>reforms</b> 298:5 <b>regard</b> 84:17 <b>regarding</b> 8:1 20:4 36:14 47:22 54:2 125:7 148:18 189:12 205:6 227:5 229:1 234:11 235:14 236:11 239:21 241:13 279:16 <b>regardless</b> 221:14 <b>regards</b> 205:7 <b>regeneron</b> 28:3 32:2,7,10 39:20 41:16 <b>regime</b> 215:8 216:1 <b>regimen</b> 105:10	<b>register</b> 5:21 229:20 313:9 <b>registered</b> 6:17 7:12 161:14 <b>registration</b> 6:9 7:8 <b>registrational</b> 32:21 <b>registries</b> 98:6 133:3 258:18 285:9 <b>registry</b> 99:9,16 245:19 <b>regular</b> 22:7 111:4 183:18 292:19 <b>regularly</b> 19:2 <b>regulate</b> 11:7 15:12 <b>regulated</b> 226:13 281:17 <b>regulates</b> 290:19 <b>regulation</b> 17:18 191:22 230:12 311:21 <b>regulations</b> 85:6 90:11 311:19 <b>regulations.gov</b> 9:6,9 <b>regulators</b> 96:20 160:8 164:8 180:18 279:1 <b>regulatory</b> 10:3 12:13 17:20 18:15 18:17 19:8,11,13 19:19 20:1,2,16 21:4,6,8,11,15,22 22:16 23:4,4 28:13,20 34:16,18 39:14 43:21 44:8 44:14 53:22 54:8 54:12,20 55:14 62:22 64:11 75:21 86:20 96:7,10 102:17 105:13	107:11 112:17,20 113:8 116:18 117:8 120:3 121:6 121:7 127:4 130:15 134:18 181:2 184:19 185:8,15 186:13 190:20 191:7,10 191:20 192:8 194:9 195:14 217:2 218:11,19 219:9 220:11,17 220:21 221:6,17 223:7 226:7,18 229:1,3 231:22 232:11 233:1 235:1 238:6 239:12 240:7,9 253:5 267:11 268:9,13,17 270:7 270:14 271:14,20 273:17 282:15 284:6 292:1 294:9 297:3,18 299:17 <b>reimbursement</b> 297:16 <b>reinforced</b> 18:4 <b>reinvent</b> 249:13 <b>reject</b> 276:19 <b>relate</b> 284:8 <b>related</b> 15:12 29:18,20 32:15 38:18 39:15 49:10 53:13 101:12 108:1 115:13 237:18 239:1 252:4 281:19 314:11 315:7 <b>relates</b> 43:12 235:10 237:9 <b>relating</b> 223:18 <b>relationship</b> 61:6 81:14 138:13,15 166:19 168:16
---	--	--	---

169:18,18 <b>relationships</b> 96:15 <b>relative</b> 25:19 27:11 33:14 261:15 314:13 315:10 <b>relatively</b> 24:2 71:7 147:20 158:22 162:1 <b>released</b> 177:12 <b>releases</b> 21:12 <b>releasing</b> 120:12 <b>relevance</b> 242:11 <b>relevancy</b> 190:1 <b>relevant</b> 67:17 108:21 112:1 144:19 192:1 201:17 213:11 221:9 <b>reliability</b> 226:6 247:8 <b>reliable</b> 176:12 218:18 227:19 228:5 229:2 <b>relied</b> 121:5 <b>relies</b> 219:8 <b>reluctance</b> 279:17 311:22 312:4 <b>reluctant</b> 216:2 <b>rely</b> 100:11 104:12 127:21 134:7 173:3 246:20 249:14 <b>relying</b> 12:12 109:19 <b>remain</b> 6:1 8:5,10 16:18 91:2 139:15 161:4 165:18 212:12 243:20 273:11 313:8 <b>remainder</b> 60:22 60:22	<b>remaining</b> 74:11 <b>remains</b> 23:20 239:11 <b>remarkable</b> 159:20 <b>remarks</b> 7:15 8:2 8:10 9:3,13 16:18 91:2 165:18 243:20 <b>rematch</b> 246:9 <b>remedy</b> 140:1 <b>remember</b> 9:4 <b>remote</b> 60:4 63:15 196:1 <b>remotely</b> 313:5 <b>removing</b> 266:22 <b>renal</b> 260:12,18 261:2,9,11 266:13 <b>renowned</b> 199:7 <b>reorganization</b> 5:14,18 18:15 272:22 283:2 289:13 300:4 <b>reorganized</b> 23:3 <b>repeat</b> 22:14 126:5 <b>replace</b> 99:8 100:16 277:9 294:20 <b>replaced</b> 209:21 <b>replicate</b> 151:3 <b>replicated</b> 161:10 <b>replicating</b> 251:20 <b>replication</b> 192:19 <b>replies</b> 243:3 <b>report</b> 249:12 274:16 306:2 <b>reported</b> 1:17 71:18 <b>reporting</b> 73:9 233:17 <b>reports</b> 178:16 226:16 229:12	<b>represent</b> 7:17 259:10 <b>representation</b> 10:6 <b>representative</b> 244:9 <b>represented</b> 5:15 159:1 264:3 <b>representing</b> 13:7 187:9 <b>represents</b> 92:13 96:5 262:9 267:14 292:4 <b>reproducible</b> 39:16 <b>reproductive</b> 14:4 <b>request</b> 26:5 60:13 217:6 227:10 286:11 <b>requested</b> 64:4 <b>requests</b> 24:1 97:13,17 196:5,17 236:5 237:2,6 287:13 <b>require</b> 109:2 111:18 137:15 216:17 265:12 <b>required</b> 29:22 36:10 40:12 47:2 97:10,12 117:14 124:20 125:2 161:14 168:8,13 <b>requirement</b> 190:14 193:15 224:5,10,18 278:18 298:5 <b>requirements</b> 97:18 226:4 272:6 282:15,17 294:9 294:14 <b>requires</b> 18:17 22:18,18 27:4,4,5 102:6 116:8 203:20 208:12	222:20 <b>requiring</b> 99:9 140:12 161:22 190:14 227:9 254:3 <b>research</b> 18:8 55:22 56:1,2 63:6 64:12 68:4 108:12 114:17 139:18,19 142:19,21 158:3 164:12 193:18 198:10 200:8 201:4 210:9 224:19 257:14 265:9 267:12,15 267:20 274:12 284:14,21 285:2,9 <b>researcher</b> 157:19 <b>researchers</b> 199:15 220:14 228:4 283:9 <b>residual</b> 304:10,15 <b>resistance</b> 23:1 <b>resolution</b> 268:18 <b>resource</b> 117:9 221:12 226:4 228:9 <b>resources</b> 21:21 22:6,15,18 27:1,2 42:12 72:20 73:5 97:15,20,21 98:9 117:3,13 190:22 203:9 <b>respect</b> 7:9 11:8 190:19 192:6 193:22 216:22 <b>respectfully</b> 8:1 <b>respects</b> 19:21 <b>respond</b> 30:10 233:21 <b>response</b> 30:22 52:8 92:17 100:7 110:3 119:20 132:3 224:6 230:6
---	--	--	--

<p>303:7 312:14  <b>responses</b> 52:1  179:15 202:12  239:3 300:1  <b>responsibility</b>  17:12 61:2  <b>responsible</b> 62:10  251:4  <b>rest</b> 82:13 103:7  206:3 253:20  293:4  <b>restored</b> 18:4  <b>restricted</b> 66:10  <b>result</b> 35:2 66:15  117:3 119:6  138:19,22 142:22  210:8 287:6  <b>resulting</b> 31:6  <b>results</b> 30:16 53:9  92:13 95:7,22  138:16 142:19  150:11 155:18  199:15 246:22  247:9 257:18  259:2 274:17  311:1  <b>resume</b> 243:13  <b>retained</b> 95:12  <b>retired</b> 84:10  <b>retrospective</b>  248:22 295:14  <b>retrospectively</b>  184:5,6  <b>return</b> 6:16  <b>returns</b> 212:6  <b>revelatory</b> 251:16  <b>revenue</b> 210:1  213:10  <b>revenues</b> 213:7  233:17  <b>reverse</b> 75:7  <b>review</b> 5:18 10:7,8  10:10,11,14 12:5  17:21 18:5 19:3,7</p>	<p>20:8 21:5,14,21  22:2,5,16 23:16  28:7 61:18 89:15  96:3 101:17  105:17 116:20  117:7,8,14,17  118:2,8,10 126:8  137:15 143:18  144:5 166:11,12  188:22 189:4,10  189:14 191:17,22  194:16 208:9  209:13,15,19,19  219:11 222:1  223:2,6,11,19,21  226:3,5 227:6  229:15 235:18,22  236:2 237:1 250:8  250:14 262:3  269:2,6,7,12  271:2,4 272:4  282:10 286:12  288:4,6,12,13,19  291:1 293:21  295:19 299:2  305:3,7  <b>reviewed</b> 120:5  146:15 271:5  298:9  <b>reviewer</b> 122:15  123:2 145:8  250:16  <b>reviewers</b> 18:21  19:20 21:12 126:1  144:6 251:7 289:3  312:8  <b>reviewing</b> 20:13  118:4 142:9  <b>reviews</b> 115:12  235:15 243:10  <b>reward</b> 211:18  <b>rheumatoid</b>  277:12</p>	<p><b>rheumatology</b>  13:4,5 136:9  <b>rich</b> 199:8  <b>rick</b> 250:4  <b>right</b> 7:11 21:7,12  25:9 26:16,17  48:9,19 50:13  55:8 59:14 70:13  75:13 80:21 82:4  93:1 109:9 110:1  110:5 112:14,18  126:8 127:10,17  128:5 129:17  130:13 131:13,16  132:7 146:18  150:3 151:18  154:22 176:13  179:3 182:20  194:3 198:15  201:18 204:19  205:11 215:8,16  217:20 218:4  230:22 234:12  253:3,4 273:9  291:17 298:19  300:6  <b>rigorous</b> 176:15  234:6  <b>rigorously</b> 74:2  79:8  <b>ripe</b> 194:4  <b>rise</b> 11:15 29:11  <b>risk</b> 10:1 19:14  31:14 38:17 45:2  45:20 51:5,10  74:5 78:3,4,5 79:6  80:14 85:10 87:8  92:4 98:2 117:11  151:12 195:7  214:20 219:6  238:11 282:19  284:18 296:4  304:6,15,18 311:3</p>	<p><b>riskier</b> 21:19  <b>risks</b> 33:8 304:10  <b>risky</b> 151:5,6,7  195:4  <b>rna</b> 38:6 67:20  69:2,14  <b>rnai</b> 70:10,11  <b>road</b> 17:15 115:18  176:22 305:21  <b>robertson</b> 3:3  218:4,10 229:9  230:18 231:14,17  232:3 233:19  234:19  <b>robust</b> 39:16 70:8  98:14 153:1  156:14,15 238:2  240:5  <b>robustness</b> 153:12  239:6,14  <b>roessner</b> 3:8 274:2  274:3 280:3 281:5  <b>role</b> 21:2 62:14  84:10 115:9  120:12 146:19  <b>roll</b> 118:17  <b>roller</b> 195:9  <b>rollout</b> 194:5  <b>roman</b> 4:8 14:9,10  37:14,15 49:16,17  64:21,22 101:4,5  101:21 102:11  241:11,12 249:3  <b>room</b> 1:14,14  24:17 146:4 292:9  <b>rooting</b> 308:2  <b>rose</b> 84:20  <b>roughly</b> 68:9  257:20 261:7,10  264:4  <b>rounds</b> 270:13  <b>roy</b> 199:8  <b>rtor</b> 124:3,5,6  194:11</p>
---	---	--	---

<b>rubber</b> 17:15 115:18 <b>rule</b> 89:1 248:9 276:9,11 <b>rules</b> 8:1 85:6 88:16 90:11 299:2 <b>run</b> 29:9 76:4 146:1 147:13 179:12 226:21 <b>running</b> 6:7 135:4 165:7 285:10 <b>rupalla</b> 2:13 102:14,16 109:21 111:6 112:13 113:21 <b>russell</b> 2:16 127:10 136:1 <b>rwe</b> 232:9,10	<b>samples</b> 38:1 <b>sampling</b> 111:13 111:13 226:11 <b>san</b> 175:18,21 <b>sandwich</b> 7:1 <b>sanofi</b> 218:12 220:21 225:22 226:9 228:14 <b>sarcoma</b> 15:16 <b>sasinowski</b> 3:5 244:2,4 254:14 255:3,6,17 256:14 <b>satisfy</b> 190:6,8 304:9 <b>satisfying</b> 189:21 <b>save</b> 276:11 <b>saved</b> 144:11 <b>savings</b> 152:11,12 <b>saw</b> 77:12 90:1,12 90:13 125:13 207:6 224:9 288:6 <b>saying</b> 21:12 23:17 107:8 140:21 146:13 227:12 233:9 <b>says</b> 17:19 139:4 <b>scalable</b> 221:13 <b>scale</b> 109:20 154:2 <b>scared</b> 76:11 <b>scares</b> 21:18 <b>scatchard</b> 167:14 <b>scenarios</b> 48:4,16 48:18 86:19 90:7 <b>schedule</b> 7:11 8:5 218:8 <b>schiemann</b> 2:17 136:14,15,16 145:20 148:7,22 149:20 <b>schizophrenia</b> 110:13 181:17 <b>school</b> 26:9 295:7 <b>schools</b> 159:3	<b>science</b> 17:10 19:12,19 20:1,16 20:16 21:11,22 22:1,16 23:4 55:21,21 85:18 96:9 108:6 111:15 112:18,20 114:19 175:4 185:8 189:18 190:20 191:10 192:8 194:9 214:15 218:11 221:6 250:9,16 251:5 253:4 256:7 267:11 283:18 284:14 292:1 296:1,14 297:2,15 298:10 299:17 309:3 <b>sciences</b> 165:21 <b>scientific</b> 5:11 9:22 10:9 11:3 16:10 20:6 27:12 27:16 74:1 87:5,6 103:20 104:19 108:1,7 134:17 177:4 178:16 187:13 189:5,12 189:12 193:16 199:12,14 216:14 238:2 250:10 251:14 268:7,11 283:5 307:21 <b>scientifically</b> 74:2 102:10 272:9 <b>scientists</b> 20:10 85:12 170:6 175:22 <b>sclerosing</b> 159:17 <b>sclerosis</b> 57:16 138:2 141:3 161:12 163:20 182:11 185:20	<b>scope</b> 32:5 33:2 46:19 47:10 193:10 302:1 <b>score</b> 239:8 <b>scores</b> 38:17 154:7 179:7 <b>scraped</b> 223:1 <b>screen</b> 8:17 16:17 91:1 165:17 243:19 261:18 <b>screened</b> 74:7 <b>screening</b> 72:4 132:19 206:19 246:1 <b>scrutiny</b> 104:19 <b>sdlt</b> 42:18 43:3,5 43:10,15 44:1 46:11,17 47:2,9 47:12,17,21 48:4 48:10,18 49:14,19 50:17 52:5 186:8 187:7,7,8,14 <b>sdlt</b> s 185:7 186:4 186:17,21 <b>seamless</b> 276:21 280:16 <b>seamlessly</b> 277:2 <b>second</b> 8:19 70:5 75:1 79:14 86:9 139:12 140:10,21 151:2 166:20 169:22 170:1,19 183:6 189:18 227:22 244:12 263:16 <b>secondary</b> 150:7 197:10 306:22 <b>secondly</b> 74:11 163:18 240:9 <b>seconds</b> 74:11 299:19 <b>section</b> 222:20 <b>sections</b> 287:19
<b>s</b>			
<b>s9</b> 46:5 50:19 186:4 187:22 <b>safe</b> 79:8 192:10 <b>safely</b> 266:20 <b>safer</b> 215:4 <b>safety</b> 20:18 29:3 29:13 32:16 42:12 45:14,16 49:21,22 50:2,10,14 51:1 51:12 65:10 74:7 97:10,13,17,22 98:2 121:4 127:20 141:17 179:8 182:20 183:7,10 183:15 186:13 187:15 192:17 248:5 298:18 <b>sake</b> 233:12 <b>salad</b> 7:1 <b>sales</b> 210:1,15 <b>salute</b> 16:9 <b>sample</b> 112:4 115:13 131:1 132:5 134:6 161:2 170:21			

<p><b>see</b> 5:15 10:6 23:12 25:18 26:1 36:16 37:15 38:8 58:22 63:6 65:13 72:19 76:5,7 87:14 88:12 93:6 105:6 109:6 130:8 132:12 135:8 137:12 139:4 145:3,12,14 146:2 147:13 148:20 162:6 165:3 170:22 186:16 188:14,17 191:20 193:15 198:3,17 202:12,15 203:8 204:8 213:18 225:1 229:8 230:17 231:2,4 232:12 233:10 234:13 242:3 246:2,7,12 248:18 249:15 250:8 255:2 259:18,19 259:22 260:22 261:3,7,19 262:13 262:20 263:8,15 263:17 264:3,16 265:19 272:14 274:18,22 275:7 276:14 280:9 281:9 292:17 293:9,12 294:12 294:19 297:12 303:2,17 304:4,17 306:12,17,20,20 307:1,6,16 309:14 310:21 311:2 <b>seeing</b> 82:4 119:1 127:16,18 128:20 130:22 131:3 193:21 204:20 223:13 236:15 259:6,16</p>	<p><b>seek</b> 43:20 94:11 95:3 96:14 124:4 <b>seeking</b> 96:9 114:10 124:6 <b>seemingly</b> 77:5 <b>seen</b> 11:15 33:22 56:13 60:16 78:18 79:20 97:11 103:9 116:11 125:17 129:9 146:3 185:14 186:5 199:19 225:8 226:18 227:16 <b>seizures</b> 78:7 <b>seldom</b> 251:19 <b>select</b> 30:9 280:8 <b>selected</b> 154:21 <b>selecting</b> 73:19 188:20 <b>selection</b> 189:1 234:12 239:12 255:19 265:10 <b>selections</b> 115:15 <b>self</b> 197:8 <b>seminar</b> 74:14 <b>send</b> 6:9 137:8 <b>senior</b> 17:19 19:18 21:10 102:16 234:22 249:8 292:1 <b>sense</b> 65:17 83:18 89:2 193:15 209:18 210:21 211:9 213:9 309:15 <b>sensitive</b> 106:20 <b>sent</b> 214:11 <b>sentiment</b> 26:2 290:16 <b>sentinel</b> 97:20 98:14,17,17 99:1 190:7,13 <b>separate</b> 10:18</p>	<p><b>september</b> 251:13 <b>sequence</b> 41:14,20 <b>sequencing</b> 32:10 41:17 111:9 <b>sequential</b> 155:11 156:14 172:10 307:5 <b>series</b> 215:9 288:9 <b>serious</b> 11:17 45:5 137:21 177:18 192:18 <b>serve</b> 5:7 53:1 <b>serves</b> 54:1 55:19 223:12 <b>serving</b> 5:4 <b>session</b> 7:11 8:17 8:19,22 9:17 13:1 16:14,20 90:19 91:4 134:21 165:13 235:5,9 243:12,15 244:1 <b>sessions</b> 7:21,22 8:14,19 9:1 135:5 286:17 288:15 291:9 <b>set</b> 41:4,5 67:13 75:16 76:1,8 108:15 127:15,16 156:11 167:20 287:12 <b>sets</b> 76:10 <b>setting</b> 75:19 76:6 76:6 82:8 188:15 193:9 204:6 278:18 305:20 <b>settings</b> 280:19 <b>setup</b> 154:3,14 155:6 <b>seven</b> 78:16 79:18 170:6 174:12 <b>severe</b> 30:7 58:10 187:9 200:20 <b>severely</b> 42:16 46:18 185:10</p>	<p><b>severity</b> 60:11 254:20 261:16 311:4 <b>shape</b> 167:9,19 168:1 <b>share</b> 5:22 21:3 48:2 94:12 95:22 99:17 100:20 109:19 115:1 182:5 185:2 237:4 244:6 271:17 274:7,11 282:5,6 286:16 288:22 299:22 303:18 308:6 <b>shared</b> 47:13 95:5 160:11 240:18 292:12,13 300:10 300:10 <b>shares</b> 61:2 152:12 <b>shari</b> 4:5 13:22,22 <b>sharing</b> 27:20 66:21 94:8 101:14 102:2 162:14,22 205:5 239:2 308:5 <b>sharings</b> 162:16 <b>sharon</b> 3:23 13:8 13:8 25:4,21 240:16 <b>she's</b> 215:6,7,8,9 215:12,16,16,17 215:18 <b>shift</b> 198:21 <b>shifts</b> 198:15 <b>shocking</b> 91:18 <b>shockley</b> 3:1 194:21 196:20 199:21 200:22 203:12 <b>shop</b> 19:16 <b>short</b> 18:10 67:11 78:11 80:16,17 186:1 195:22</p>
--	---	---	--

<p>197:13 201:2 218:12 <b>shortcomings</b> 98:7 <b>shortened</b> 93:13 226:3 <b>shot</b> 79:20 <b>should've</b> 307:5 <b>shoulder</b> 85:10 <b>shouldn't</b> 94:21 153:17 220:14 <b>show</b> 7:2 80:15 92:10 93:3 133:22 152:22 166:9 199:4 <b>showed</b> 199:9 207:7 <b>showing</b> 178:9 179:3 <b>shown</b> 33:20 34:10 68:8 132:4 175:22 198:9 229:13 <b>shows</b> 92:20 153:17,19 198:10 201:4 259:9 261:5 <b>shrinkage</b> 104:10 <b>sic</b> 215:8 216:2 <b>sick</b> 138:15 <b>side</b> 27:15 87:20 112:3 114:1 128:10 130:15,15 150:21 151:15 157:2,2 201:19 206:19 207:2 233:15 234:6 241:6 305:16 <b>sides</b> 129:19 <b>sign</b> 6:9 <b>signal</b> 263:7 289:8 <b>signals</b> 65:10 121:5 <b>signature</b> 314:17 315:14</p>	<p><b>signed</b> 6:12 <b>significance</b> 264:1 <b>significant</b> 27:5 33:15 47:14 49:6 49:9 94:19 117:13 <b>significantly</b> 33:13,20 36:4 201:3 253:21 <b>signify</b> 168:7 <b>signing</b> 73:3 <b>signs</b> 23:5 78:19 <b>silent</b> 286:5 <b>silver</b> 1:16 <b>similar</b> 18:5,18,18 21:16,17 40:18 50:18 51:8 100:22 105:8,11,13 116:1 126:2,11 136:9 152:10,14 162:20 186:5 214:12 215:2,14 221:17 226:1,12 250:15 265:5 278:7 293:1 307:12 <b>similarity</b> 159:20 162:15 <b>similarly</b> 126:2,11 214:4 239:5 <b>simple</b> 24:18 78:5 118:11 150:22 155:22 173:21 174:10 280:12 305:22 306:5 <b>simplify</b> 173:20 <b>simply</b> 20:20 26:1 52:10,17 88:2 <b>simulate</b> 168:9 <b>simulated</b> 179:4 179:10 <b>simulation</b> 95:10 100:11,16 130:4,5 130:5,6 134:8 154:3 168:1 169:2 178:4 271:22</p>	<p>308:10 309:3 <b>simulations</b> 128:6 180:1 <b>singh</b> 3:17 13:11 13:11 63:13,14 <b>singing</b> 77:6 <b>single</b> 59:7 67:4 71:12 78:21 121:3 121:3 132:18 150:9,10 151:5 154:20 155:2,9,13 157:4 166:5 171:4 221:8 251:17 259:3 277:22 <b>singular</b> 240:2 <b>sister</b> 85:14 <b>sit</b> 146:18 <b>site</b> 142:14 162:3 <b>siteless</b> 294:1 298:1 <b>sites</b> 60:8 139:19 159:3 162:5 264:22 <b>sitting</b> 149:7 <b>situation</b> 24:13 44:14 49:12 51:5 51:19 52:10 56:10 66:5 75:11 79:13 79:17 89:13,16 123:10 148:11 162:8 247:4,17 <b>situations</b> 18:18 21:16 44:16 60:1 81:9 126:2,11 286:5 <b>six</b> 58:19 162:3 207:9,9 212:20 254:21 255:7 <b>sixth</b> 219:18 <b>size</b> 104:9 115:13 131:1 132:5 134:6 154:16,19 161:2 170:21 192:17</p>	<p><b>skiing</b> 77:6 <b>skills</b> 314:10 315:6 <b>skipping</b> 70:6 <b>slap</b> 59:5 <b>slide</b> 8:15 16:16 43:8 47:7 53:7 63:14 75:2,8 90:22 92:13 99:21 127:14 128:18 130:17 133:1 134:15 139:7 159:11 165:16 171:1,15 172:1 220:4 227:11 234:16 243:18 251:15,20,22 252:18,19 <b>slides</b> 65:4 101:5 152:22 160:4 172:15 207:21 293:13 299:20 300:5 <b>slightly</b> 154:12 <b>slope</b> 167:19 168:3 <b>slow</b> 75:7 <b>slowing</b> 144:10 <b>small</b> 50:3 58:4,4 65:1,3,18 71:7 76:3,10 131:14 145:22 153:6 154:16 213:17 214:5 216:4 245:2 246:19 247:17 250:10,17 251:5 256:7 275:16 277:9 282:14 <b>smaller</b> 71:9 169:9 206:12 <b>smart</b> 141:9,10 <b>smarter</b> 22:13 35:9 37:12 <b>smartphone</b> 176:20 177:9</p>
---	--	---	---

<p><b>smattering</b> 59:3  <b>smiled</b> 27:13  <b>smith</b> 2:4 5:5  12:18,19,19 23:7  26:20 27:19 35:12  36:12 37:14 39:10  40:15 42:7 47:16  48:9 49:16 51:20  53:5,14 55:10  63:12 64:20 66:2  66:20 74:22 76:16  81:4 84:7 87:11  89:4 90:14 102:12  109:4 111:1 112:7  113:10 114:3  122:5 123:20  125:5 127:8  135:16 136:12  144:21 147:16  148:16 149:19  157:16 164:11  165:5 172:13,19  174:6,17,19 175:1  181:9 182:14  183:16 184:14  192:22 193:21  194:19 203:16,18  205:3 206:7  207:17 216:9  218:2 228:19  230:14 232:20  233:5 234:1,20  240:14 241:11  243:4 265:18  267:4,7 273:4,22  279:14 280:2,15  281:13 290:2  291:12,15 300:17  301:19 302:18  310:8 311:15  <b>smoothing</b> 165:2  <b>smri</b> 111:14  <b>snapshot</b> 259:1</p>	<p><b>snapshots</b> 220:20  <b>social</b> 20:7  <b>society</b> 67:19  139:19 157:21  158:8  <b>software</b> 170:2  177:13  <b>solicit</b> 10:13  <b>solid</b> 25:19 26:10  26:12  <b>solution</b> 18:14  65:5 139:3,4,6  <b>solutions</b> 137:11  304:3  <b>solved</b> 145:18  <b>solving</b> 309:20  <b>somebody</b> 26:1  <b>somewhat</b> 293:14  300:21  <b>sonya</b> 315:2,15  <b>soon</b> 225:19  245:16 294:12,17  <b>sophisticated</b>  100:7  <b>soreth</b> 2:11 84:8,9  87:19 89:18  <b>sorry</b> 36:19 40:16  52:12 94:2 113:22  114:2 126:4  167:16 169:4  210:11 220:13  240:15  <b>sort</b> 27:2 36:13  89:7 135:6,21  145:1,6,18 165:2  180:6 181:13  228:21,22 290:10  296:6,12 308:1  <b>sorts</b> 304:9  <b>sought</b> 192:4  <b>sound</b> 26:17 81:1  190:2  <b>sounds</b> 26:16  80:21 125:9</p>	<p><b>source</b> 275:13  <b>sources</b> 190:3,9  193:8,11,17  226:22 239:12  246:17 258:16  265:11 271:21  <b>space</b> 36:18 37:1  110:22 118:22  164:22 192:7,10  193:2 206:15  253:22 279:3  297:7,13  <b>spark</b> 72:12  <b>speak</b> 5:21 26:9  28:1 77:21 83:14  87:19 108:10  152:20 157:18  164:21 188:8  235:7,8  <b>speaker</b> 8:13  16:15,20 71:16  84:15 90:21 91:4  106:17 107:22  112:5 165:15,20  203:8 223:22  225:9 233:14  243:12,17 244:1  249:1  <b>speakers</b> 6:18  7:12 8:18 61:19  142:1 235:3 265:2  268:2 269:20  271:7,19 301:16  <b>speaking</b> 9:5 26:8  85:1 86:21 150:1  283:6  <b>speaks</b> 25:8  218:21 285:17  <b>spearhead</b> 144:14  <b>special</b> 68:13  148:2 295:7  <b>specialized</b> 138:5  140:12 295:18</p>	<p><b>specific</b> 5:10 10:13  12:2,6,7 24:19,21  35:20,22 52:6,9  52:19 53:19 58:5  58:7 60:3,15,17  60:21 61:4 79:13  83:22 84:17 85:21  100:2 105:7  106:15 108:4  119:17 134:1  149:10,10 164:18  174:1 180:14  218:16 220:16,20  221:21 225:14  232:1 235:8  260:18 266:13  283:14 287:4  290:1 294:8  298:16,19 300:15  302:15  <b>specifically</b> 23:12  60:13 62:3 64:8  86:11 124:2  196:22 222:14  224:4 235:11  237:19 290:4  295:6 296:3 304:1  304:12  <b>specificity</b> 227:4  296:19  <b>specifics</b> 231:21  312:17  <b>specified</b> 150:5,7  151:10,12 152:18  156:22 157:4,4  192:12  <b>specify</b> 152:16  155:13 156:9,11  <b>specifying</b> 150:14  152:15 155:1  <b>spectrum</b> 89:10  234:13 242:15  <b>speculation</b>  224:13</p>
--	---	---	--

<p><b>speed</b> 62:17 128:13 131:1 255:10</p> <p><b>spend</b> 91:9 143:17 196:20 197:1,4 200:6 204:2</p> <p><b>spent</b> 97:16 199:16 244:14 305:19 306:10</p> <p><b>spinal</b> 111:14</p> <p><b>spirit</b> 303:1</p> <p><b>spoke</b> 225:10</p> <p><b>spoken</b> 17:13 141:1 157:13 279:18</p> <p><b>sponsor</b> 22:6 24:13,14,17 25:20 26:4,8,15 112:3 117:9 124:7 145:12 146:17 190:16,16 192:10 245:17 282:1 301:6</p> <p><b>sponsor's</b> 25:10 26:4 306:3</p> <p><b>sponsored</b> 22:4 27:12 189:14 224:18</p> <p><b>sponsoring</b> 270:15</p> <p><b>sponsors</b> 24:1 44:4,9,17 53:21 54:7,17,21 55:3,8 94:7 95:3,16 98:15,22 107:21 116:5,9,12,15 123:15 143:22 147:1 164:21 180:13,18 181:3 184:11 189:20 202:17 235:16 236:9,15,22 237:5 237:14 238:1 241:8 245:14 268:22 269:22</p>	<p>270:2,10 272:5 285:6</p> <p><b>sponsor's</b> 102:7</p> <p><b>spoon</b> 158:5,15</p> <p><b>spotfire</b> 259:1</p> <p><b>spotlight</b> 217:3</p> <p><b>spring</b> 1:16 225:17</p> <p><b>springing</b> 73:22</p> <p><b>spur</b> 186:3</p> <p><b>squandering</b> 161:9</p> <p><b>stabilized</b> 82:7</p> <p><b>stable</b> 80:2 159:1 162:7</p> <p><b>staff</b> 10:10,15 21:22 22:2,16 27:15 62:12 96:3 96:3 159:3 234:5 271:2 288:19</p> <p><b>stage</b> 43:1 75:3,5 127:16 161:4 185:18 186:11</p> <p><b>stages</b> 90:13 266:9</p> <p><b>stakeholder</b> 17:10 34:21 117:22 185:1,13 282:2 285:14 287:9</p> <p><b>stakeholders</b> 5:10 10:12 12:3 67:7,8 72:19 73:5 96:21 108:22 114:21 144:19 147:13 180:13 195:18 207:13 220:8 221:22 225:2 235:17 237:5 238:2 253:20 272:19 283:9,17 289:16,21 292:14 292:20 295:21 296:11 300:12</p> <p><b>stakeholders'</b> 11:19</p>	<p><b>stand</b> 7:6</p> <p><b>standard</b> 42:4 137:14 144:2 149:7,18 202:6 245:12 253:12</p> <p><b>standardization</b> 143:1 189:7</p> <p><b>standardize</b> 41:3</p> <p><b>standardized</b> 40:19 42:6 74:6 142:16 148:19</p> <p><b>standardizing</b> 198:19</p> <p><b>standards</b> 10:3 20:17 34:16 39:22 40:12 41:6 45:14 50:14 143:18 149:2</p> <p><b>standing</b> 98:2</p> <p><b>standpoint</b> 48:2 145:7,8</p> <p><b>stands</b> 102:22 135:21 201:18</p> <p><b>stark</b> 57:14</p> <p><b>start</b> 56:12 72:22 73:18 75:13 90:16 110:11,21 145:21 150:3 176:12 208:12 212:6 217:4 218:5 219:7 221:4,5 223:13 225:3 228:12 229:20 230:2 232:1 243:15 245:18,20 249:3,5 258:2 276:3</p> <p><b>started</b> 6:5 52:7 68:21 84:3 175:22 182:4 184:20 205:10 212:18 289:6</p> <p><b>starting</b> 12:18 16:14 78:15 103:16 119:4</p>	<p>178:18 219:2 258:6</p> <p><b>starts</b> 22:21 176:10 221:6</p> <p><b>stasis</b> 19:8 21:5</p> <p><b>state</b> 5:17 31:22 91:13 163:10 168:17 189:18 314:20</p> <p><b>statement</b> 137:20 143:19 222:21 254:3 288:5</p> <p><b>states</b> 56:6 58:7 162:14 195:11 210:14 245:1</p> <p><b>stating</b> 219:6</p> <p><b>statistic</b> 156:3 171:5,7</p> <p><b>statistical</b> 95:6 96:3 100:10,17 101:12,19 129:15 131:19 133:10 162:13 225:12 239:5 243:9 272:1 306:12 307:22 308:5</p> <p><b>statistician</b> 129:14 280:6</p> <p><b>statisticians</b> 101:7 278:22 296:4</p> <p><b>statistics</b> 156:2 184:8 307:18 308:2</p> <p><b>status</b> 17:16 19:8 21:6 211:4</p> <p><b>statute</b> 190:11 217:15</p> <p><b>statutory</b> 17:11 216:18</p> <p><b>stay</b> 25:1 51:6 203:10 279:5</p> <p><b>stein</b> 16:11 251:13 251:15,20</p>
--	--	--	---



<p><b>stem</b> 15:7 73:21  <b>step</b> 118:16 156:8  186:16 224:21  <b>steps</b> 122:2 269:1  <b>steven</b> 3:16 15:14  15:14 144:22  290:3  <b>stewart</b> 2:14 114:5  122:22 124:14  125:13 126:4,14  <b>stitch</b> 220:19  <b>stockbridge</b> 4:10  <b>stood</b> 5:16  <b>stool</b> 297:17  <b>stop</b> 82:3 83:2  155:19 265:16  <b>stopped</b> 81:20,22  138:10 147:4  <b>stopping</b> 112:12  <b>stories</b> 309:18  <b>story</b> 76:21  <b>straight</b> 168:2,7  177:5  <b>straightforward</b>  23:20 24:2 38:13  39:6  <b>strategies</b> 115:8  115:11 199:5  <b>strategy</b> 127:3  220:11 221:16  223:10  <b>streamline</b> 308:15  <b>streamlined</b> 43:9  187:5  <b>streamlining</b> 86:7  117:6 124:22  <b>street</b> 299:6  <b>strengthen</b> 5:18  114:15  <b>strengthening</b>  150:2  <b>stressed</b> 273:5  <b>stressing</b> 305:19</p>	<p><b>stretch</b> 224:21  <b>strictly</b> 128:2  <b>strong</b> 62:8 75:21  308:1  <b>strongest</b> 268:4  <b>strongly</b> 58:20  262:7 263:5  268:16  <b>struck</b> 204:1  <b>structurally</b> 88:19  <b>structure</b> 61:22  62:3 211:12  <b>structured</b> 60:14  191:12 194:1  225:6  <b>struggling</b> 299:5  <b>stuck</b> 224:8  <b>students</b> 68:14  <b>studies</b> 29:8,9  32:18,20 33:1  34:5,5,19 37:17  37:21 38:16 45:10  45:15 49:22 50:22  51:2,13,18 59:21  65:8 97:10,12  99:8 101:1 124:19  129:7 130:3  132:19,19,20  133:3 139:18  142:3,8 148:10  156:14 188:18  189:2,22 219:21  238:14,20 239:2  241:21 275:2  276:22 285:10  295:14 304:13  310:12  <b>study</b> 29:12 33:22  38:3 92:14 98:4  98:20 99:9,11,14  100:13 107:1  113:20 121:14  138:21 139:21  143:6 146:11</p>	<p>147:2 189:15  217:7,7,9,11  219:18 222:12  224:3,6 229:14  239:14,15 260:9  264:14 266:12  277:3,22 291:6  306:1  <b>stylistic</b> 304:21  <b>stymies</b> 287:10  <b>sub</b> 133:8,8  153:13  <b>subgroup</b> 131:14  <b>subject</b> 159:19  178:4,5,20 179:2  179:4,5,14 193:5  <b>subjective</b> 254:11  254:13,15,18,22  255:2  <b>subjectivity</b> 255:9  <b>subjects</b> 33:14  92:22 93:11  179:12 245:3  246:1,2,13 247:18  <b>submission</b> 55:5  113:2 117:1 123:5  123:7 124:16  298:7 306:3  310:14  <b>submissions</b> 113:9  221:18  <b>submit</b> 137:6  253:15 307:3  313:11  <b>submitted</b> 9:8  54:19 123:13  232:13 243:7  300:5 302:14  306:2 310:19  313:3  <b>submitting</b> 5:22  243:3 293:7  <b>subpopulations</b>  308:14,17</p>	<p><b>subsequent</b> 8:14  16:15 90:21  165:15 243:17  <b>subsets</b> 110:19  308:18  <b>subsidize</b> 211:19  212:1  <b>subsidizing</b> 210:8  <b>substantially</b>  132:5  <b>substitute</b> 92:8  <b>subtitle</b> 166:4  <b>subtypes</b> 239:1  <b>success</b> 92:18  93:16 101:1  124:12 199:4,22  309:18  <b>successes</b> 119:1  121:22  <b>successful</b> 162:2  162:12 194:14  197:8 287:19  <b>successfully</b>  158:21  <b>succinct</b> 52:1 87:9  123:21  <b>suddenly</b> 78:7  <b>suffering</b> 137:21  <b>suffice</b> 85:6 86:17  125:12  <b>sufficiency</b> 190:12  <b>sufficient</b> 35:16  98:15,15,21 182:8  189:14  <b>sufficiently</b>  160:21 211:1  <b>suggest</b> 109:15,16  156:18 230:19  231:13 235:11,16  237:8 306:12  <b>suggested</b> 35:18  194:2  <b>suggestion</b> 101:6  211:11 237:20</p>
--	---	---	---

<p>241:2 242:19  <b>suggestions</b> 5:10  10:14 126:12  235:9 268:12  300:16  <b>suitability</b> 129:21  <b>suitable</b> 129:1  <b>suited</b> 280:22  <b>sumanthy</b> 16:1  <b>sumathi</b> 3:13  15:22  <b>summaries</b> 235:18  236:1,2  <b>summarize</b> 289:10  <b>summarized</b>  166:12  <b>summarizing</b>  279:4  <b>summary</b> 47:11  184:8  <b>summer</b> 222:12  224:4  <b>summit</b> 247:11  249:16  <b>superior</b> 132:9,10  <b>supplement</b> 199:2  258:16  <b>supplemental</b>  118:3,11,12  264:10 271:4  <b>supplementary</b>  121:10  <b>supplements</b>  226:2 235:16,19  236:3 238:8  240:18 243:7  269:3  <b>supply</b> 116:13  <b>support</b> 10:2 41:2  45:16 56:7 62:20  62:22 66:19 75:11  95:13 102:9  128:17 130:1  138:20 145:2</p>	<p>163:13,14 164:4  220:7 238:6 265:4  268:4 284:13  300:9 302:5 303:5  <b>supported</b> 155:19  197:8 283:10  <b>supporting</b> 138:11  142:3 172:15  205:18 284:14  286:4  <b>supportive</b> 141:20  188:12  <b>supports</b> 10:9  268:16  <b>suppose</b> 273:5  <b>supposed</b> 137:10  174:1  <b>supposition</b> 220:9  <b>sure</b> 6:11 7:14  20:7 25:13 26:7  82:10 122:22  124:14,14 125:6  126:14,15 143:20  149:17 153:7,16  153:22 154:2,8  181:19 184:4  206:3 298:10  299:7 300:7 304:2  305:16,18  <b>surprise</b> 220:14  <b>surprising</b> 275:1  <b>surrogate</b> 74:19  75:11 76:8 85:19  85:19 86:8 119:9  <b>survey</b> 274:12  <b>surveying</b> 266:10  <b>survival</b> 186:1  <b>suspect</b> 116:6  <b>svp</b> 91:5  <b>swallow</b> 77:21  <b>swamps</b> 205:1  <b>switch</b> 199:5  215:19,22 216:2</p>	<p><b>switzerland</b>  136:17 139:7  208:4  <b>sworn</b> 314:5  <b>sympathetic</b> 95:19  <b>symptom</b> 109:13  109:13 110:18  263:9  <b>symptoms</b> 80:1  82:2,6 83:22  106:6 264:6  <b>synergies</b> 172:3  <b>synergism</b> 166:22  169:9 172:3,3,4  <b>synergistic</b> 128:16  <b>synergy</b> 167:3  169:3,13,21  170:15,16,17  171:1,5,6,13,18  172:6  <b>synthetic</b> 19:2  239:9 265:4  <b>system</b> 32:4  173:14,21 190:13  190:13 197:12  <b>systematic</b> 97:11  <b>systemic</b> 145:7,15  <b>systems</b> 140:7,8  201:16</p>	<p>153:14 155:21  156:8 157:7  169:21 170:18  171:14 197:20,21  209:18 214:19  215:14 220:10  221:2 224:21  233:8 235:3 243:2  243:13 247:14,20  254:15 258:21  263:13 287:11  289:14 292:8  313:2,13  <b>takeaway</b> 216:14  <b>takeaways</b> 213:3  <b>taken</b> 40:22 41:15  57:20 69:7 78:7  88:4,5 90:7  103:12 150:22  151:2 178:12  183:7 192:8 215:9  215:12 269:1  299:13,14 314:3  314:12 315:9  <b>takes</b> 54:5 71:8,9  76:12 106:22  107:15 124:16  129:12  <b>talalay</b> 171:11  <b>talent</b> 95:17  <b>talk</b> 28:4,9 41:3  57:8 63:15 66:22  67:2,2,11,16  70:17 71:2 91:7  107:3 128:8  130:18 131:9  134:22 135:5,7  139:11 148:1,8  168:14 173:22  175:9 206:12  208:4 228:2,21  237:9,17 240:13  244:9,10 252:18  255:20 264:11</p>
		<p><b>t</b></p>	
		<p><b>tabbed</b> 250:1  251:4  <b>table</b> 25:18 87:20  292:11  <b>tackle</b> 234:15  <b>tackling</b> 297:1  <b>tailored</b> 78:20  <b>take</b> 8:18 21:2  25:20,20 26:20  39:11 73:11 84:19  86:20 88:5,8 89:1  90:15 92:4 97:5  122:6 132:6 138:5  152:10,14 153:9</p>	

276:2,7,21 277:18 277:20 296:7 303:14 <b>talked</b> 82:5 128:4 128:7 135:18 251:16,22 254:8 <b>talking</b> 24:7 28:16 38:13,14 39:1 58:4 64:11 76:2 88:17 91:9 122:13 122:14,17,18 129:7 134:19 142:11 148:12 174:15 185:6 227:12 237:18 247:13 254:16 276:1 289:7 293:15 299:9 304:1 305:12,17 306:10 <b>talks</b> 76:17 135:7 184:9 276:17 <b>tandem</b> 192:8 <b>tangible</b> 199:22 269:1 <b>target</b> 29:6 30:12 30:18,19 31:19 32:15 37:5 38:2 69:15,15,17 212:13 252:10 <b>targeted</b> 11:14 297:11 <b>targeting</b> 11:10 69:13 71:11 <b>targets</b> 70:4,6,9,13 70:14 212:6 <b>targum</b> 4:5 13:22 14:1 <b>task</b> 69:5 176:16 304:14 <b>tasked</b> 117:10 <b>tauopathies</b> 106:12,13,14 110:10,16,18	<b>tax</b> 211:17,20,22 <b>team</b> 77:14 79:4 79:12 80:12 83:15 84:12 140:4 288:13 299:19 <b>teams</b> 237:1 288:6 <b>technical</b> 148:22 286:8,20 287:22 291:6,11 <b>technique</b> 256:19 257:22 <b>techniques</b> 20:5 21:22 22:17 27:16 <b>technological</b> 268:7 <b>technologies</b> 18:3 29:10 42:2 70:13 70:18 72:15 73:12 73:18 175:14 183:15 236:16 270:3,6 272:11 293:20 294:1 296:15 297:22 <b>technology</b> 22:12 31:20 40:19 41:10 63:19 69:19 71:3 141:6,12,21 175:18 176:1 179:11 181:3 182:16 213:13 214:3,18 216:5,5 <b>telba</b> 247:11 <b>tell</b> 77:9 82:10 170:16 172:1,2 177:22 240:14 245:13 <b>telling</b> 94:9 146:22 <b>tells</b> 200:8 <b>template</b> 143:9,16 149:3 <b>templates</b> 142:4,5 149:17 <b>temple</b> 16:11	<b>ten</b> 24:16 74:11 171:17 278:4,5 <b>tend</b> 182:2 <b>tendency</b> 44:16 <b>tens</b> 72:13 <b>tension</b> 12:11 49:18 50:3,7 126:3,13 237:10 <b>term</b> 10:16 31:17 32:15 33:3 34:18 38:20 92:5 105:3 105:4 140:11 186:1 195:22 197:13,14 201:2,4 232:18 <b>termed</b> 145:1 <b>terminal</b> 215:7 <b>terminology</b> 295:6 <b>terms</b> 63:19 69:1 86:14 107:15 114:13 120:4 123:1,10 154:22 170:21 197:12 206:21 208:15 215:19 229:7 233:10 259:17 263:14 274:8 292:18 293:1,18 296:18 297:9 298:20 300:22 304:22 <b>test</b> 58:20 141:4 141:14 157:10 164:1 183:18 208:7 210:5,18 257:17 265:13 278:17 304:9 <b>tested</b> 79:8 147:12 160:21 260:16 299:11 <b>testifying</b> 314:5 <b>testing</b> 74:7 111:14 112:6 113:7 208:8 221:4	258:5 259:15 260:2,7 261:22 262:14,18,21 281:10 <b>tests</b> 146:2 179:7 212:21 259:8,11 <b>text</b> 149:7 234:16 <b>thank</b> 9:10 16:3,8 23:5,7 27:19,21 28:1 35:11,12,19 39:10,12 40:15 42:7,9 47:15,16 49:16 50:11 51:20 52:21 53:6 55:10 63:11,12 66:20 74:20 76:16,18,20 79:3,10 80:7 81:4 84:7,12 87:11 91:6 99:3,4,5 102:11,12,15 109:3,4 113:12 114:3,5,9 122:4,5 123:20 127:8,10 135:14,15,16 136:12,18 144:20 144:21 147:22 148:16 149:19,21 157:14,16,17 164:10,11 165:6 165:22 172:12,13 172:19 174:6,17 174:19 181:8,9 184:14,16,18,21 185:5 192:21,22 193:21 194:19 195:13 203:16,17 205:4 206:7,9 207:17,19 208:2 216:7,9 217:22 218:1,2,4,7 228:19 234:20 240:14 242:6 243:4,11 244:4,5 254:5,6 256:12
---	--	---	--

265:17,18 267:4,5 272:19 273:4,22 274:1 279:13,14 279:15 280:2 281:13,14 290:1,2 291:12 292:9 300:13,17 301:19 302:18,20 310:4,6 310:8 311:15 312:19,21 313:4 313:18 <b>thankful</b> 268:10 <b>thanking</b> 218:6 <b>thanks</b> 12:16 16:10 80:19 87:13 90:14 99:19 122:21 201:12 216:11 218:5 228:18 313:6 <b>thankyous</b> 235:2 <b>that'll</b> 101:22 <b>that's</b> 92:12 95:5 95:13 97:9,12 99:3 107:2,21 109:7,22 110:22 113:3 117:10 120:5 121:1 122:14 126:14 128:3 134:13 136:6 147:10 153:11,19 178:14 178:17 183:22 193:13 198:12 200:20 202:20 204:18 206:19,20 209:1 213:1,20 214:14 215:17,21 216:15 217:15 218:18 230:5,5 231:9 238:11 241:7 257:18 <b>theme</b> 273:7 300:19	<b>themes</b> 116:1 <b>theory</b> 166:9,13 166:15,18 167:5 173:17,19 174:1,4 <b>therapeutic</b> 10:17 11:21 20:8 46:22 47:13 57:6 67:1 70:12 71:5,13,21 73:15 86:2 87:22 103:6 106:4 107:18 126:10 131:22 132:2 142:17 185:17 186:19,22 191:9 195:6 200:18 205:7 219:12 225:14 239:3 242:16 268:15 270:17 277:11 280:21 281:21 282:12,18,22 284:6 289:12 303:13 <b>therapeutics</b> 42:16 43:3,10,15 44:1 46:6,9,15 67:19,20 68:5,18 69:6 72:7 188:7 <b>therapies</b> 11:14 33:8 42:21 45:13 56:14,16,20 57:2 65:11 74:1 75:4 81:9 117:15 119:5 186:8 201:19 207:2,6 213:15,19 244:17 253:2,11 254:1 269:10,14 272:17 290:8,22 <b>therapy</b> 43:6 45:6 45:9 46:1 51:6 52:14 65:15 66:1 66:12 72:6 120:17 179:15 187:15 207:7 214:16	252:15 <b>thereof</b> 122:1 258:5 <b>theresa</b> 4:7 13:16 13:16 203:19 <b>there'd</b> 117:18 <b>there's</b> 114:15 115:19 118:17 120:18 124:18 125:18 128:3 129:9 139:8 141:2 142:6 145:3 157:7 163:21 180:6 182:4 186:2 193:8 194:12,16 198:14 198:21 204:22 207:4,13 208:22 209:6 210:22 211:4 223:17 227:18,20 230:3 232:16 <b>therefore</b> 128:12 <b>they'd</b> 208:20 <b>they're</b> 116:14 129:5 130:19 132:15 137:22 140:9 164:22 185:22 204:10 216:1,2 222:6 226:13 <b>they've</b> 121:19 <b>thing</b> 18:4 21:13 38:3 41:22 73:20 115:5 128:21 133:12 183:11 202:22 207:9 209:1 212:9,18 213:6,11 247:10 252:12 254:19 255:22 256:6 263:14 266:4 288:17 297:9 <b>things</b> 18:12,12 21:7,12 26:3 27:7	40:7 48:20 49:5,9 49:15 53:13 69:12 79:21 141:11 146:8 150:4,16 155:12 176:17 177:8,10 180:20 181:12,17 196:12 204:3 206:5 209:2 211:4,9 217:1,17 217:17 228:5 241:10 249:5 259:18 262:17 265:15 266:4,16 274:7 285:8 286:17 292:17 294:3 297:1,6,10 300:4 301:21 303:5,7,17 306:5 311:18 <b>think</b> 23:14,18 24:4,12,13,19,22 25:2,18 26:6,13 26:18 27:4,6,6,8 27:10,13,15 35:8 35:15 36:6 37:4 37:10 38:3,20 39:7,13 40:3,11 41:1 42:2 50:15 50:18 51:20 52:22 53:10,19 54:10 59:6,6 72:16 73:16,20 74:2,17 81:13 82:8,16 87:21 88:9,14 89:8,15,18 90:7 93:19 94:3,10 97:7 98:6,7 99:16 100:5,18 101:21 108:9 109:18 110:12,20 111:6 111:17,20 112:10 112:15,19 113:3 114:12 115:17,19 116:2,16 118:1,21
---	---	--	---

119:18,20 121:1,9 121:14 122:20,22 123:8,9,12 124:15 124:21 125:10,13 125:17,20 126:20 130:14 131:10,18 135:20 136:5 141:12 145:6,9 146:12,19 147:10 147:14 148:4,5,8 149:14,15 160:3 163:12,18,21 164:18 172:16 175:12 176:7 182:19 183:14 184:22 185:9,14 192:5 193:4,6 194:3,7,12 204:13 206:13,15,21 208:12 209:1,20 209:22 211:2 213:6,15,15 214:22 216:16 217:9,12 218:8,17 219:11 227:20,22 228:2,10,17,20 229:5,9,22 230:3 231:3,5,9 232:22 233:9,20 234:5 235:22 240:20 241:2,7 242:7,9 242:19 243:3 246:16 249:2,4,7 249:13 251:5,15 252:2 253:20 256:7,9,21 257:1 265:3,7 266:1,3 267:1 273:14,20 275:1 277:16 278:2,3,12,15 279:17 280:3,21 283:2 290:8 292:10,16,18 293:14,17,19	294:4,11 295:1,3 295:12,18 296:2,3 296:7,11 297:4,5 297:18 298:15,21 298:22 299:4,8,21 302:5,16 307:6 308:3 309:1 312:11 <b>thinking</b> 12:8 25:20 72:22 76:13 80:8 127:2,7 135:19 146:9,10 177:13 181:17 183:3,10 202:8 203:1 206:4,21 207:5,10 236:11 237:13 242:9,12 259:17 283:12 284:11 295:13 297:19 307:2 308:7,11 310:1 <b>thinks</b> 25:9 90:5 <b>third</b> 87:3 101:7 167:1 184:12 190:18 215:7 223:15 244:14 253:1,12 260:22 297:17 <b>thirds</b> 161:15 288:16 <b>thirty</b> 9:7 <b>thoracic</b> 13:13 <b>thought</b> 48:2 92:10 99:15 124:11 137:11 211:6 224:12,16 249:20 250:1 283:4 284:2,7 289:7,15 307:5 <b>thoughtful</b> 79:4 99:20 <b>thoughts</b> 27:20 37:2 66:21 101:15 124:11 185:3	240:19 273:8,12 274:11 275:14 281:2 282:5 291:3 302:1 303:9 <b>thousand</b> 278:4,5 <b>thousands</b> 72:13 72:13 231:22 <b>threatened</b> 20:12 <b>threatening</b> 11:18 42:17 49:14 185:11 <b>three</b> 8:22 15:15 21:20 41:18 69:21 77:1,10 83:21 85:21 92:15 93:19 127:18 157:14 163:12 166:15 170:11,12,12 171:12 172:8,9 176:6 180:6 185:6 188:9 189:8 197:16,17 237:18 242:20 244:6,17 246:17 248:9 263:3 <b>threshold</b> 159:22 217:12 <b>threw</b> 48:11 <b>thursday</b> 1:10 <b>tickets</b> 83:11 <b>tied</b> 151:12 156:6 210:3 <b>tiffany</b> 3:22 14:18 14:18 89:6 109:5 181:10,20 <b>tim</b> 71:18 <b>time</b> 1:11 5:13 6:18 7:3 8:2 16:13 20:11 22:13,18 24:16 36:9 40:18 50:5,10 51:22 68:19,22 70:1 74:21 75:1 76:17 77:5,18,22 78:8	78:11 79:9 82:22 84:4,20 85:4,18 86:1 93:1,12 96:11 98:22 99:13 105:17 116:16 117:3,14,17 118:2 118:7,10 124:15 124:15 126:1,9 127:12 129:13 131:2 133:7 135:4 139:21 141:4 143:7,13,17 144:10 147:14 151:20 152:2 155:14,14,16 158:6 160:8 170:21 172:15 176:21 181:16 185:4 189:14 190:22 196:21 198:4,6,10 199:1 199:10,16,16,21 200:9,17 202:3,7 202:10 204:1 207:8 215:22 218:8,12 228:12 229:22 231:11 235:3 236:5 245:14,22 251:6 252:16,17 253:12 256:8 258:13 259:8,11,14,19 261:10,16,20 262:13 263:9 265:17 266:3,8,22 276:11 277:19 292:10 296:8 301:7 305:18,19 306:4,10 309:8 313:13 <b>timeframe</b> 24:8,8 24:9 275:2 <b>timeframes</b> 116:15
--	--	---	---

<b>timelier</b> 270:6	102:16,20 104:13	286:3 294:5	<b>tracking</b> 231:11
<b>timeline</b> 123:17	104:13,19 119:12	306:14	232:10
<b>timelines</b> 60:11	136:20 137:2,8	<b>top</b> 89:19 127:15	<b>traditional</b> 92:16
134:12 189:22	138:4 146:3	216:14 259:9	93:4 99:16 100:9
287:12	152:21 157:18	261:1,18 296:6	100:17 133:15
<b>timely</b> 17:5 44:15	166:1 175:2,9	<b>topic</b> 8:1 55:16	134:2,11 225:11
84:21 85:11 93:22	177:20 185:7	128:5 140:21	237:12 289:22
108:19 116:10	194:20,22 195:17	166:1 190:18,19	<b>traditionally</b>
117:5 123:13	195:20 198:1	222:15 235:14	285:5
227:7 236:1,18	200:16 203:14	237:17,21 242:1	<b>trained</b> 27:15
268:21 269:14	206:13 207:18	242:20 251:12	86:21 178:13,19
270:1,11 272:5,17	208:5 216:13	265:1 288:1	<b>training</b> 21:21
<b>times</b> 7:9 23:22	218:6 222:2,15	303:21,22	22:15 101:8 131:6
56:11,11 88:4,6	235:4 236:14,17	<b>topics</b> 7:18 10:20	308:4
127:14 169:11	237:22 250:17,21	10:22 91:8 127:17	<b>trajectory</b> 187:8
199:11 228:1	252:20 256:13	137:6,7,12 185:7	<b>trans</b> 105:18
238:13 285:17	267:8 268:1,2	232:9 236:17	109:6
286:22 300:20	269:20 271:19	244:17	<b>transcribed</b> 9:4
304:8	272:20 273:6	<b>tops</b> 197:4	<b>transcriber</b> 315:1
<b>timescale</b> 261:6	275:11 276:2,15	<b>tortured</b> 63:7	<b>transcript</b> 9:5
<b>timing</b> 135:11	278:12 282:7	<b>total</b> 56:18 171:19	315:3,5
188:22 226:1,2	289:11 291:13,16	212:5 213:10	<b>transcriptionist</b>
311:11	292:10 298:21	275:1	314:8
<b>timothy</b> 77:14	299:21 303:4,22	<b>totalled</b> 166:14	<b>transcriptomes</b>
<b>ting</b> 2:19 165:22	306:10 308:10	<b>totality</b> 295:16	38:6
166:1 172:14	309:5,8 313:1,5	309:2	<b>transcriptomics</b>
173:6,10,13 174:7	313:13,19	<b>totally</b> 42:3	38:1
174:18,21 175:3	<b>today's</b> 43:4	171:12 234:1	<b>transfer</b> 213:14
<b>tissue</b> 38:1,2	<b>today's</b> 5:5 6:12	302:2,4	214:3 216:5
105:21 174:3	12:13 312:22	<b>touch</b> 105:20	<b>transform</b> 153:6
<b>tissues</b> 70:16	<b>told</b> 77:4 82:12	218:13	<b>transformative</b>
290:22	83:18 137:6 301:8	<b>touched</b> 142:1	205:13
<b>title</b> 89:2	<b>tolerance</b> 307:18	237:22 265:1	<b>transformed</b>
<b>tmap</b> 227:16	<b>tool</b> 168:10 180:9	303:21	153:4,16
<b>today</b> 5:8,21 6:5	191:20 256:18	<b>tox</b> 50:9,22,22	<b>transition</b> 5:17
6:11 9:8,12 10:6	265:8	51:2	<b>translate</b> 85:17
11:1 16:9 20:7	<b>tools</b> 20:5,17	<b>toxicities</b> 45:11	176:20 284:16
27:20 28:2,4,15	27:12 64:14,15	51:8	297:1
28:22 37:22 42:10	68:22 104:17	<b>toxicity</b> 168:21	<b>translated</b> 196:14
42:13 55:12 66:21	176:13,16 180:2,4	169:1 172:7	<b>translates</b> 87:7
67:11 69:9 71:2	180:15,19 185:8	<b>toxicology</b> 45:10	<b>translator</b> 19:22
72:12 74:21 76:18	191:5 194:9,17	<b>track</b> 6:12 7:13	<b>transparency</b>
79:1 83:21 84:13	196:1 201:13	63:10 105:8 107:2	60:15 83:13 192:7
93:17 100:9,18	271:8,14 272:3	107:4,19 306:15	208:13 213:3

218:21 233:10,14 233:16 280:9 <b>transparent</b> 83:6 280:12 <b>transplant</b> 14:15 261:13 <b>transplantation</b> 15:8 <b>transthyretin</b> 259:3 266:11 <b>travel</b> 68:15 139:1 <b>treat</b> 71:13 126:1 126:11 138:2 278:5 <b>treated</b> 18:18 21:16 34:7 72:10 139:14 286:19 311:20 312:1 <b>treating</b> 73:18 78:3,4,6 80:14 85:15 138:7,13 140:3 143:11,12 <b>treatment</b> 30:22 36:11 74:15 77:16 78:14,17 79:18 83:8 84:3 115:14 121:11 140:13 156:17 178:21 181:6 210:14 212:22 278:20 290:7 <b>treatments</b> 56:3 77:4 86:3 158:4 162:12 217:1 267:21 <b>tremendous</b> 267:1 298:21 <b>trend</b> 171:19 <b>trends</b> 221:4 223:11 <b>trial</b> 11:16 45:22 59:20,22 60:2,7 60:10 63:18 64:9 65:17,20 85:14,18	86:16 88:21 91:10 92:8,14 94:12 98:2,4 101:12 106:2,3 108:19 111:9 113:15 115:6,21 117:4 120:5,10,21 121:8 122:3 123:5 125:8 128:2,3,9,22 129:22 134:9 138:8 140:2 142:12,18 143:12 143:14 145:4 149:3 150:5,15 151:7,8 154:16,18 155:17,19 157:2 157:22 158:12 159:3 161:19 163:9 166:7 168:11 170:21,23 171:2,9,10,13 176:11 177:19 178:5,8,21 179:2 180:12 183:19,20 184:12 188:10 189:16,16 190:3 192:19 193:2 208:13,14,22 213:1 214:15 224:5 237:19 238:19 239:1,22 245:2,12 246:4,21 247:9,18 251:17 260:10 265:10 266:15 272:1 274:19 275:21 276:1 278:3,16 279:16 280:16 297:20 298:13 299:3 302:4 <b>trialist</b> 129:17 131:7 <b>trials</b> 19:1,1,2 44:13 45:3 58:17	59:13 63:18 64:2 64:17,19 65:1 75:9 87:7 92:3,5,6 94:2 95:11 106:9 111:8,16 113:17 119:6 121:4,15 128:11,12 132:18 132:18 137:13,18 138:4,11 140:11 140:11,15 141:12 143:15 145:1,2 147:5 148:7 150:3 155:11 156:19 157:1,21 158:4,17 158:18,21 159:6,7 160:13 161:11,14 161:15 162:3,4,9 163:3,6,7,14 164:7 177:21 178:13 179:12 184:7 188:12,13 188:13,19 196:19 201:18,21 202:2 202:17 211:20 212:1 236:12,15 238:5 239:21 246:19 250:10,17 251:5 252:4,8 256:7 257:14 258:19 266:21 271:7 274:14 275:9,10 294:2 298:1 308:14,19 <b>tricky</b> 256:6 <b>tried</b> 229:19 274:13 <b>trivialize</b> 73:13 <b>true</b> 17:17 20:13 20:19 130:14 198:12 284:19 292:11 307:21 314:9 315:5 <b>truly</b> 32:16 65:12 84:14 97:5 120:4	<b>truncated</b> 24:20 <b>trust</b> 207:15 <b>truth</b> 84:2 <b>try</b> 23:1 84:16 119:15 123:21 128:17 130:7 160:6 229:6 230:11 231:21 242:21 247:14 258:21 291:19 296:21 299:15 300:8 303:3,6,18 <b>trying</b> 103:22 115:21 119:8 123:14 139:11 158:7 183:8 231:9 232:15 237:10 301:22 309:12,16 <b>tsunami</b> 31:3 <b>ttr</b> 260:15 261:22 262:22 <b>tuesday</b> 250:22 <b>tumor</b> 104:10,10 110:4 170:9 277:4 277:6 <b>tumors</b> 13:14 <b>tune</b> 264:13 <b>turn</b> 7:14 266:14 <b>turned</b> 79:1 <b>turns</b> 243:6 305:21 <b>twenty</b> 278:4,5 <b>twin</b> 178:3,20 179:1 183:17 278:13 <b>twins</b> 178:2,15 <b>twitter</b> 68:16 <b>two</b> 8:8,19 13:13 14:21 15:11 24:21 25:3 26:12 31:11 55:16 59:14 61:19 70:3,8,11 78:16 79:1 80:17 84:10 87:2 123:21
--	---	--	---

<p>127:14 137:6,7,19 150:18 158:9 161:13,15 168:6,8 168:13 169:13,17 169:18,21,22 170:1,8,12,19 175:21 182:1 199:5 208:5 212:2 215:10 229:18 235:8 240:4 253:13 256:1,2,18 263:4 288:16 289:2 296:22 299:6 311:6 <b>type</b> 24:5,13,15,21 46:13,19 75:10 98:20 99:11 122:19 125:10,11 149:4 184:2 192:8 193:13 198:12,13 204:15 207:2,11 207:11 224:6,18 237:3 239:17 242:15 245:11,11 260:21 264:9 266:7 267:2 277:5 277:8 287:8 289:4 299:16 305:20 306:22 <b>types</b> 27:11 43:19 46:8 49:5,15 53:22 70:3 85:13 97:3 99:7 100:2,3 113:14,19 121:13 127:2,6,20 132:20 180:14 189:2 194:18 214:2 241:10 242:22 265:20 269:9 277:4,7 280:20 293:3 302:10,15 <b>typewriting</b> 314:7 <b>typically</b> 116:10 152:3 193:9</p>	<p style="text-align: center;"><b>u</b></p> <p><b>u.s.</b> 1:1,12 44:13 44:22 184:19 201:6,7 234:22 257:20 <b>uk</b> 32:8 39:20 <b>ultimate</b> 188:4 299:16 <b>ultimately</b> 117:4 118:2 120:1 175:14 176:8 186:18 191:15 258:19 <b>ultra</b> 67:3 <b>umbrella</b> 106:9 <b>unable</b> 5:21 98:10 <b>unacceptable</b> 45:11 51:7 100:1 <b>unbiased</b> 265:10 <b>uncertainties</b> 151:9 <b>uncertainty</b> 12:9 54:6 118:21 120:2 120:3,6 181:2 239:12 279:2 <b>unclear</b> 98:5 <b>uncover</b> 23:2 <b>undergo</b> 144:4 <b>undergone</b> 121:19 <b>underinvest</b> 91:16 91:17 <b>underinvestment</b> 92:2 <b>underlying</b> 11:9 <b>underpinning</b> 11:9 <b>underpinnings</b> 57:11 58:3 <b>understand</b> 31:12 33:7 39:7 44:9 45:18 53:21 54:2 55:8 61:1 65:8 83:1 95:12 98:16 100:12 107:2</p>	<p>111:19 121:11,22 122:3 129:19 130:6 133:5 134:13 173:1 180:19 201:19 205:20 209:9 222:10 227:15 241:8 250:9,11 256:19 257:12 258:22 261:15 263:1 274:13 294:14 296:22 299:1,12 300:7 303:19 304:16,18 305:22 307:7,15 307:20 308:16 <b>understandable</b> 275:3 294:16 302:4 <b>understanding</b> 54:8,11,20,22 55:6 57:14 62:2 76:7 79:6 86:22 95:5 96:1 100:9 103:1 111:11 123:3 124:18,22 133:8 224:22 226:12 251:4 257:5 283:18 284:16 295:16 296:14,18 297:2 298:11 299:2 307:12 <b>understandings</b> 292:13 300:11 <b>understands</b> 213:16 <b>understood</b> 25:19 79:9 85:17 <b>understored</b> 226:17 <b>undertaken</b> 18:8 300:6</p>	<p><b>undertaking</b> 117:9 <b>underway</b> 125:16 269:18 <b>unexpectedly</b> 260:11 262:19 <b>unfortunately</b> 58:12 287:10 <b>unified</b> 123:19 166:18 167:4 173:17 <b>uniform</b> 188:20 <b>uniformed</b> 116:8 116:10 <b>uniformity</b> 126:19 <b>uniformly</b> 58:18 <b>unique</b> 57:12 67:4 71:17 146:11 185:2 193:7,17 <b>unit</b> 89:19 274:15 <b>united</b> 56:6 58:6 195:11 210:14 245:1 309:15 <b>universal</b> 174:4 <b>universally</b> 46:17 167:21 169:15 <b>university</b> 67:21 139:10 141:5 <b>unknown</b> 139:2 264:1 266:6 <b>unknowns</b> 266:6 <b>unlearn</b> 175:19 178:1,2 <b>unmet</b> 47:15 51:5 51:11,14 84:21 86:2,10 103:6 182:5 185:16,21 309:17 <b>unprecedented</b> 56:13 <b>unquote</b> 147:9 <b>unstructured</b> 227:2</p>
--	--	--	--



<p><b>untimely</b> 23:16  <b>upcoming</b> 150:15  157:1  <b>update</b> 289:19  295:6  <b>updated</b> 188:18  258:12 295:1  <b>updating</b> 227:17  283:22 292:21  295:4,12  <b>upfront</b> 81:15  137:8  <b>upper</b> 18:6  <b>urgency</b> 17:20  79:9 186:3 309:15  <b>urgent</b> 23:18  <b>urgently</b> 188:6  <b>urine</b> 159:14  <b>use</b> 9:5 22:12,13  28:13 32:20 35:17  37:11 39:22 41:2  52:17 57:21 60:1  60:9 61:13 66:17  92:6 103:5,14  104:17 105:14,22  107:11 112:6  113:1 115:6,7  119:20 120:20  124:2 125:8 128:1  133:6 140:7 141:6  141:9,11,14  142:15 147:7  148:20 151:5  153:7 156:3,6  159:6 160:3,14  161:1 169:1 171:4  171:5,8,10 173:19  175:11 176:14  177:12 179:21  180:19 182:7  183:4,10 186:9  187:2 189:16  190:6 204:11  207:20,22 208:18</p>	<p>210:6 217:14  222:15,21 226:11  232:10 237:11,20  238:3,15 239:18  240:2,6 241:13  242:10,14,22  249:9 258:1  264:13,20 265:13  271:14 272:10  275:4 276:5,18,18  277:1,20 279:7,11  280:14 292:20  294:5 295:13  296:5,15 299:20  304:10 308:12  <b>useful</b> 66:18 69:3  99:2 112:17 129:4  129:5 130:16  148:4,5 181:12  182:19 229:5  281:3 291:7  302:11  <b>uses</b> 28:8 171:3  176:8,18 180:14  <b>usual</b> 85:5  <b>usually</b> 103:8  106:22 107:15  112:16 122:11  138:2,14,17 236:4  <b>utility</b> 27:11 180:1  242:13 285:18,22  <b>utilization</b> 28:10  28:19 297:21  299:3  <b>utilize</b> 6:18 288:20  289:4 291:8  <b>utilized</b> 29:2 60:3  222:18 239:13  294:1  <b>utilizing</b> 238:10  239:7 288:13  295:22</p>	<p style="text-align: center;"><b>v</b></p> <p><b>vaccine</b> 309:13  <b>vaccines</b> 213:14  <b>vacuum</b> 179:19  <b>vague</b> 89:3  <b>val</b> 259:4  <b>valentine</b> 3:9  281:15,16 290:15  291:14  <b>validate</b> 41:5  <b>validated</b> 39:17  164:7 167:21  199:10  <b>validating</b> 86:8  <b>validation</b> 29:6  140:8 192:2  <b>validity</b> 96:8  <b>valuable</b> 198:3  202:8  <b>value</b> 17:20 92:11  94:4 96:12 97:9  97:22 153:5,6,9  153:19 157:8  171:6 209:14  210:1 213:5  221:15 223:19  226:8,18  <b>values</b> 152:17  157:7 183:9 200:3  <b>variability</b> 307:10  <b>variable</b> 12:4 57:8  304:5  <b>variables</b> 225:13  247:1  <b>variance</b> 209:7  <b>variant</b> 30:12  31:19 41:17  248:13 263:22  264:1  <b>variants</b> 29:12,20  30:2,4,6,19 31:12  264:12  <b>variation</b> 188:21  192:16 271:1</p>	<p>278:16 306:21  307:17,19  <b>variations</b> 159:13  200:2 307:21  <b>variety</b> 7:17 11:6  26:11 55:20 57:11  57:17,19 58:2  59:2 114:20  <b>various</b> 86:1  113:14 130:7  227:1 266:2  <b>vary</b> 133:7,8  <b>varying</b> 151:14  <b>vast</b> 94:16 147:15  <b>velocity</b> 18:15  20:2 21:4  <b>venue</b> 10:10 64:3  <b>venues</b> 120:19  <b>vereshchagina</b> 3:7  267:9,10 273:14  <b>verify</b> 207:7  <b>versatility</b> 155:10  <b>version</b> 188:18  <b>versus</b> 29:8 45:2  45:20 51:10 78:3  93:4 109:14,22  167:7 237:11  279:18  <b>vet</b> 122:12  <b>viable</b> 212:14  <b>vice</b> 102:16 233:22  244:2 267:10  291:22 292:1  <b>video</b> 80:18 81:3  <b>videos</b> 80:17  <b>view</b> 50:8 129:15  146:13 186:2,12  191:10 261:5  273:15 279:21  281:7 293:5  <b>viewed</b> 25:12  88:12  <b>viewing</b> 20:11</p>
---	--	--	--

<b>viewpoint</b> 131:5	125:22 126:1,9	165:4 173:21	<b>weighted</b> 156:7
<b>viewpoints</b> 135:1	129:11 130:18	186:12 188:4	<b>welcome</b> 9:15
<b>views</b> 5:22 11:19	131:9 132:11	199:1 200:4	94:20 108:8
16:4 19:18 21:10	137:8 145:12	201:14 211:21	136:11 149:20
313:12	149:12 166:10	216:4 223:13	186:6 188:1 306:9
<b>violated</b> 152:6	176:11 183:9	230:12 246:5,6	<b>wellbeing</b> 198:17
<b>virtual</b> 128:10	185:5 191:20	248:3 264:19	<b>went</b> 34:4 54:19
188:13 246:10	195:13 196:10	266:14 267:15	80:8 81:10,18
249:18	208:16,19 212:7	277:16 279:1	<b>wet</b> 31:4
<b>vision</b> 77:20	215:19 228:9	284:10 290:1	<b>we'd</b> 94:11 99:16
268:16	231:8 245:20	291:4 299:6,11	185:12 186:16
<b>visit</b> 140:13	274:7,10 277:18	303:20 309:10,19	187:11 188:10
166:11	277:19 289:10	312:10	196:15 198:3,18
<b>visits</b> 140:12,15	291:5 292:8,14	<b>ways</b> 18:18 19:22	202:12,14,15
141:16 201:8	297:10,11 299:7	21:3,17 41:4,5,14	203:8 235:9
<b>vitarello</b> 2:10	300:7,8 311:8	55:20 129:7	<b>we'll</b> 7:11 8:18,21
76:19,20 81:12	<b>wanted</b> 80:7,15	153:17 154:3,9	100:15 113:10
<b>vitro</b> 166:6 170:20	97:6 124:10 147:5	157:6 160:20	122:5 160:4
<b>vivo</b> 168:11 170:9	184:21 194:6	161:8,19 163:12	162:21 182:9
<b>voluntary</b> 211:12	202:10 206:11	164:16 177:15	192:15
<b>volunteers</b> 250:6	222:15,17 224:11	206:10 246:10	<b>we're</b> 5:19 91:8
<b>voucher</b> 208:9	252:12 310:5	247:7 251:17	94:1 118:3 119:2
209:13 223:21	<b>wants</b> 24:14 25:15	252:1,3,11 253:16	119:4,13,16
<b>vouchers</b> 209:15	37:10 200:14	269:7 289:18	123:14 127:16,18
<b>w</b>	280:5	295:20 308:21	128:20 130:21
<b>wait</b> 119:14	<b>warrant</b> 187:4	309:9	131:3 133:13,13
219:17,18 236:5	<b>warranted</b> 63:1	<b>we've</b> 29:6 35:22	137:11 147:16,17
<b>waiting</b> 85:4	<b>washington</b> 208:4	61:18 73:6 247:13	158:7 160:1,17
116:14,14	<b>watches</b> 141:10	252:21 256:19	165:7,12 182:10
<b>waive</b> 51:18	<b>watching</b> 61:9	273:6,7 282:13	184:11 188:11
<b>waivers</b> 192:17	313:5	285:16 298:3	194:22 202:9
<b>waking</b> 78:8	<b>wave</b> 16:11	303:4	219:10 220:16
<b>walk</b> 58:20 77:22	<b>waxes</b> 181:16	<b>wealth</b> 121:1	221:19 225:22
104:13 255:7	<b>way</b> 12:6 18:11	<b>website</b> 9:6 29:14	233:11 236:14,14
<b>walked</b> 252:17,18	19:9 23:1 28:18	68:8 94:14 166:11	241:3 242:12,20
<b>walking</b> 141:4,14	40:13 42:5 58:22	235:19	243:12
<b>walks</b> 296:17	65:9 66:7 71:1	<b>week</b> 5:16 24:21	<b>we've</b> 92:15
<b>wanes</b> 181:16	72:18 86:16 88:13	24:21 25:3 77:19	100:21 113:13
<b>want</b> 12:3 23:17	88:21 89:22 90:5	166:14 199:4	115:3 116:11
24:18 25:13 26:7	118:13 125:18	<b>weeks</b> 25:3 73:9	119:1 123:18
40:12 65:13 71:2	126:22 140:11	169:22	125:17 128:4
72:11,21 73:9,13	150:4 152:7,10,18	<b>weight</b> 191:7	129:9 131:5,10
81:7 91:6,9	153:21 156:12	199:17 204:13	134:9,10,20 145:1
102:14 111:7	161:20 163:17		146:3 147:18

173:8 177:19 185:14 186:5 194:18 195:19 196:6,17 200:15 217:17 218:15 220:21 222:2 225:8 226:18 227:16 228:22 229:12 236:17 242:19 <b>whatnot</b> 75:9 <b>what's</b> 126:19 132:14 135:9 184:3 209:12 223:19 229:11 <b>wheel</b> 249:14 <b>white</b> 1:13 35:2 79:21 115:2 117:22 <b>who's</b> 147:4 198:22 <b>wi</b> 7:7 <b>wide</b> 11:6 19:7 26:11 29:7 70:12 225:12 291:10 <b>widely</b> 98:7 <b>wider</b> 66:15 <b>widespread</b> 124:10 <b>widler</b> 136:16,22 139:13 <b>wife</b> 215:6 <b>wiley</b> 4:2 13:6,6 24:6,11 35:14 112:9 230:15 231:12,15 254:8 255:1,4,15 256:12 311:17 312:19 <b>willing</b> 85:10 87:5 182:5 237:14 247:18 270:19 286:21 <b>willingness</b> 112:3 235:3	<b>win</b> 158:5 <b>window</b> 146:22 234:7 <b>winds</b> 223:14 <b>winner</b> 104:3 107:10 <b>wise</b> 88:19 <b>withdrawn</b> 294:21 <b>withhold</b> 104:18 <b>witness</b> 314:4 <b>woefully</b> 86:4 <b>won</b> 85:8 <b>wonder</b> 23:10 37:1 173:7 310:10 310:16 <b>wondered</b> 204:3 <b>wonderful</b> 206:1,4 207:12 <b>wondering</b> 48:16 63:16 89:10 142:2 148:1 181:12 301:5 <b>won't</b> 160:21 <b>woodcock</b> 16:11 249:17 285:7 <b>wooden</b> 158:5,15 <b>word</b> 122:9 227:22 277:21 <b>words</b> 11:5 17:13 18:17 82:13 293:12 <b>work</b> 22:3 28:17 62:16 64:7 69:7 69:10 93:6 94:18 94:19 95:17,19 96:2 108:20 117:21 128:14,15 130:7 135:2,14 136:2 137:4 142:13 144:18 146:2,16 155:2 157:22 166:9 175:13 178:9 182:2,12 183:2	184:2,6 187:11 196:10 199:13 203:8 204:14,18 204:21 206:10 208:3 214:21 216:3 219:12 232:18 251:7 267:3 277:4 281:17,21,22 296:21 297:4 299:1,22 303:11 303:15,15 <b>worked</b> 71:21 99:13 164:22 214:11 274:4,5,6 288:14 <b>workforces</b> 275:16 <b>working</b> 35:1,8 62:1 64:13,14 80:22 83:10 94:15 100:15 102:1 107:2,5 111:12 115:2 118:1 125:11 130:16 135:13 138:10 144:16 146:20 147:4 160:6 175:19 177:18 184:11 212:18 236:14 244:15 245:17 272:20 286:18 296:22 300:14 302:13 309:19 <b>workload</b> 251:9 <b>works</b> 137:4 146:16 147:13 157:3 214:17 216:2 219:12 277:17 <b>workshop</b> 67:9 103:18 158:9 186:16	<b>workshops</b> 34:21 240:8 283:6 289:17 <b>world</b> 10:19 18:22 58:7 73:20 92:7 96:16 98:9 105:1 105:5 120:19 121:8 128:2 177:20 190:7 193:11 195:12,15 199:12 201:11 214:1 236:16 246:20 256:21 257:17 258:2 265:7 274:21 277:19 278:10 279:11 289:8 295:15 297:21 308:13 <b>worldwide</b> 91:22 94:17 145:17 <b>worse</b> 27:18 <b>worst</b> 56:11 73:20 154:21 155:8 158:5 <b>worth</b> 225:5 289:9 <b>wouldn't</b> 230:19 <b>wrap</b> 8:4 156:14 <b>write</b> 177:3 253:6 <b>writing</b> 144:1 146:9 182:10 <b>written</b> 5:22 192:15,21 230:4 285:14 301:2 309:1 <b>wrong</b> 150:16 171:4 <b>wrote</b> 83:18 <b>www.regulation...</b> 6:4
<b>x</b>			
<b>x</b> 167:19 168:4 <b>xenograft</b> 170:9			

<b>y</b>	207:3 208:15,20 210:17,20 215:3,9 225:9 229:18 248:17,19 256:1,2 260:4,10 261:12 263:3 265:12 274:4,6 275:22 276:1 277:15
<b>yanof</b> 40:16	<b>yellow</b> 262:9
<b>yanoff</b> 4:11 14:16 14:17 40:17 41:8 53:5,6 147:21,22	<b>yesterday</b> 29:14 74:15 119:19
<b>yao</b> 4:12 14:6,7 87:12,13 99:4,5 172:19,20 173:7 173:11 182:14,15 206:8,9 233:5,6 243:4,5	<b>yield</b> 121:4
<b>yeah</b> 102:4 136:1 136:11 148:18 160:3 174:21 181:22 193:6 206:17 231:19 234:19 240:15 241:1,12 254:14 255:3,6 281:5 290:15	<b>young</b> 71:16 <b>you'd</b> 99:17 101:18 136:7 212:12,13 233:22
<b>year</b> 32:12 49:4 67:12 70:11 72:3 72:6,16 77:11 79:14 91:19 117:21 161:7 171:20 177:6 197:2 199:9 207:5 211:8 214:2 229:18 232:8 261:10 276:18	<b>you'll</b> 6:19 7:2 <b>you're</b> 6:11 111:2 119:8 126:7 136:11 149:20 153:16,22 154:2,8 155:1 193:2 196:13 205:17,18 207:1 230:21 233:9
<b>years</b> 56:15,19,21 68:2 69:22 71:8,8 71:10,10 77:1,8 77:10 78:16 79:1 79:18 84:10 100:14 103:14,15 103:21 104:18 105:2 107:3 114:14 115:4 119:7,14 158:9 160:18 168:6 170:6 171:14,17 171:22 174:8,9,13 175:19 202:11	<b>you've</b> 173:2 202:11,13 205:10 234:4 <b>yu</b> 71:18,21 77:14 79:12 80:11 81:13 81:14 83:8,14,15 83:19
	<b>z</b>
	<b>zurich</b> 136:18 139:9 141:5