

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH  
  
JOINT MEETING OF THE ANESTHETIC AND ANALGESIC  
DRUG PRODUCTS ADVISORY COMMITTEE (AADPAC) AND THE  
DRUG AND RISK MANAGEMENT ADVISORY COMMITTEE (DSaRM)

Wednesday, January 15, 2020

8:00 a.m. to 12:30 p.m.

Morning Session

FDA White Oak Campus  
Building 31, the Great Room  
10903 New Hampshire Avenue  
Silver Spring, Maryland

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Moon Hee V. Choi, PharmD**

4 Division of Advisory Committee and  
5 Consultant Management

6 Office of Executive Programs, CDER, FDA

7  
8 **ANESTHETIC AND ANALGESIC DRUG PRODUCTS ADVISORY**

9 **COMMITTEE MEMBERS (Voting)**

10 **Basavana G. Goudra, MD, FRCA, FCARSCI**

11 Clinical Associate Professor of Anesthesiology and  
12 Critical Care Medicine

13 Director of Endoscopy Anesthesia Services at the  
14 Penn Presbyterian Medical Center

15 Perelman School of Medicine

16 Hospital of the University of Pennsylvania

17 Philadelphia, Pennsylvania

18

19

20

21

22

1     **Jennifer Higgins, PhD**

2     *(Consumer Representative)*

3     Owner

4     CommonWealth GrantWorks

5     Northampton, Massachusetts

6

7     **Ronald S. Litman, DO, ML**

8     *(Chairperson)*

9     Professor of Anesthesiology & Pediatrics

10    Perelman School of Medicine

11    University of Pennsylvania

12    Attending Anesthesiologist

13    The Children's Hospital of Philadelphia

14    Medical Director, Institute for

15    Safe Medication Practices

16    Philadelphia, Pennsylvania

17

18    **Maura S. McAuliffe, CRNA, MSN, MSNA, PhD, FAAN**

19    Professor, College of Nursing

20    Director, Nurse Anesthesia Program

21    East Carolina University

22    Greenville, North Carolina

1 **Mary Ellen McCann, MD, MPH**

2 Associate Professor of Anesthesia

3 Harvard Medical School

4 Senior Associate in Anesthesia

5 Boston Children's Hospital

6 Boston, Massachusetts

7

8 **Abigail B. Shoben, PhD**

9 Associate Professor, Division of Biostatistics

10 College of Public Health

11 The Ohio State University

12 Columbus, Ohio

13

14 **Kevin L. Zacharoff, MD, FACIP, FACPE, FAAP**

15 Faculty and Clinical Instructor

16 Course Director Pain and Addiction

17 State University of New York

18 Stony Brook School of Medicine

19 Stony Brook, New York

20 Ethics Committee Chair

21 St. Catherine of Siena Medical Center

22 Smithtown, New York

1     **Lonnie Zeltzer, MD**

2     Director, Pediatric Pain and Palliative

3     Care Program

4     Distinguished Professor of Pediatrics,

5     Anesthesiology, Psychiatry and

6     Biobehavioral Sciences

7     David Geffen School of Medicine at UCLA

8     Los Angeles, California

9

10    **ANESTHETIC AND ANALGESIC DRUG PRODUCTS ADVISORY**

11    **COMMITTEE MEMBER (Non-Voting)**

12    **Jay Horrow, MD, MS, FACC**

13    *(Industry Representative)*

14    Clinical Lead, Cardiovascular Drug Development

15    Bristol-Myers Squibb

16    Lawrenceville, New Jersey

17

18

19

20

21

22

1       **DRUG SAFETY AND RISK MANAGEMENT ADVISORY COMMITTEE**

2       **MEMBERS (Voting)**

3       **Sonia Hernandez-Diaz, MD, MPH, DrPH**

4       Professor of Epidemiology

5       Department of Epidemiology

6       Harvard T.H. Chan School of Public Health

7       Boston, Massachusetts

8

9       **Steve B. Meisel, PharmD, CPPS**

10      System Director of Medication Safety

11      M Health Fairview

12      Minneapolis, Minnesota

13

14      **Suzanne B. Robotti**

15      *(Consumer Representative)*

16      Executive Director

17      DES Action USA

18      Founder and President

19      MedShadow Foundation

20      New York, New York

21

22

1 **Soko Setoguchi, MD, DrPH**

2 Associate Professor of Medicine and  
3 Epidemiology

4 Rutgers Robert Wood Johnson Medical School  
5 Rutgers University

6 New Brunswick, New Jersey

7

8 **DRUG SAFETY AND RISK MANAGEMENT ADVISORY**

9 **COMMITTEE MEMBER (Non-Voting)**

10 **Reema J. Mehta, PharmD, MPH**

11 *(Industry Representative)*

12 Senior Director, Head of Risk Management and  
13 Safety Surveillance

14 Center of Excellence

15 Pfizer, Inc.

16 North Peapack, New Jersey

17

18

19

20

21

22

1       **TEMPORARY MEMBERS (Voting)**

2       **Maryann E. Amirshahi, PharmD, MD, MPH, PhD**

3       Associate Professor of Emergency Medicine

4       Georgetown University School of Medicine

5       Medical Toxicologist

6       National Capital Poison Center

7       Attending Physician

8       Department of Emergency Medicine

9       MedStar Washington Hospital Center

10      Washington, District of Columbia

11

12      **Laura Block, PharmD, EMT-B**

13      *(Patient Representative)*

14      Partner

15      Usagi Medical Group

16      Cary, North Carolina

17

18      **Martin Garcia-Bunuel, MD**

19      Primary Care Physician

20      Deputy Chief of Staff

21      Veterans Affairs (VA) Maryland Health Care System

22      Baltimore, Maryland

1     **Traci C. Green, PhD, MSc**

2     Professor and Director, Opioid Policy

3     Research Collaborative

4     Institute for Behavioral Health at

5     The Heller School for Social Policy and Management

6     Brandeis University

7     Waltham, Massachusetts

8

9     **Lee D. Hoffer, PhD, MPE**

10    Associate Professor

11    Medical Anthropology and Psychiatry

12    Case Western Reserve University

13    Cleveland, Ohio

14

15    **Brandon D.L. Marshall, PhD**

16    Associate Professor

17    Department of Epidemiology

18    Brown University School of Public Health

19    Providence, Rhode Island

20

21

22

1     **Edward Michna, MD, JD, RPh**

2     Assistant Professor Anesthesiology

3     Harvard Medical School

4     Director Pain Trials Center Brigham and

5     Women's Hospital

6     Boston, Massachusetts

7

8     **Marjorie Shaw Phillips, MS, RPh, FASHP, CIP**

9     Pharmacy Coordinator

10    Clinical Research and Education

11    AU Medical Center - Augusta University Health

12    Clinical Professor of Pharmacy Practice WOS

13    University of Georgia College of Pharmacy

14    Augusta, Georgia

15

16    **Paul Pisarik, MD, MPH**

17    Urgent Care Physician

18    Saint Francis Health System

19    Tulsa, Oklahoma

20

21

22

1 **Friedhelm Sandbrink, MD**

2 Clinical Associate Professor in Neurology

3 Uniformed Services University

4 Bethesda, Maryland

5 Director Pain Management, Department of Neurology

6 VA Medical Center

7 National Program Director for Pain Management

8 Veterans Health Administration

9 Washington, District of Columbia

10

11 **Maria E. Suarez-Almazor, MD, PhD**

12 Barnts Family Distinguished Professor

13 Department of Health Services Research

14 University of Texas MD Anderson Cancer Center

15 Houston, Texas

16

17 **Patrick Sullivan, DVM, PhD**

18 Professor of Epidemiology

19 Rollins School of Public Health

20 Emory University

21 Atlanta, Georgia

22

1     **Linda S. Tyler, PharmD**

2     Chief Pharmacy Officer

3     University of Utah Health

4     Associate Dean, College of Pharmacy

5     University of Utah

6     Salt Lake City, Utah

7

8     **Sherif Zaafran, MD, FASA**

9     Vice-Chair, Clinical Governance Board

10    US Anesthesia Partners Gulf Coast

11    Memorial Healthcare System Acute and

12    Chronic Pain Committee, Houston

13    Memorial Healthcare System Perioperative

14    Committee, Houston

15    President, Texas Medical Board

16    Houston, Texas

17

18

19

20

21

22

1       **FDA PARTICIPANTS (Non-Voting)**

2       **Rigoberto Roca, MD**

3       Director (Acting)

4       Division of Anesthesiology, Addiction

5       Medicine, and Pain Medicine (DAAP)

6       Office of Neuroscience (ON)

7       Office of New Drugs (OND), CDER, FDA

8

9       **Judy Staffa, PhD, RPh**

10      Associate Director for Public Health Initiatives

11      Office of Surveillance and Epidemiology (OSE)

12      CDER, FDA

13

14      **Naomi Lowy, MD**

15      Deputy Director (Acting)

16      DAAP, ON, OND, CDER, FDA

17

18

19

20

21

22

1     **Dominic Chiapperino, PhD**

2     *(Morning Session Only)*

3     Director

4     Controlled Substance Staff

5     Office of the Center Director, CDER, FDA

6

7     **Pamela Horn, MD**

8     *(Morning Session Only)*

9     Clinical Team Leader

10    DAAP, ON, OND, CDER, FDA

11

12

13

14

15

16

17

18

19

20

21

22

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Ronald Litman, DO, ML	17
5	Conflict of Interest Statement	
6	Moon Hee Choi, PharmD	24
7	FDA Opening Remarks	
8	Naomi Lowy, MD	29
9	<b>Applicant Presentations - Esteve</b>	
10	<b>Pharmaceuticals S.A.</b>	
11	Introduction	
12	Mark Mayhew, PhD	32
13	Urgent Need in Opioid Analgesia	
14	Eugene Viscusi, MD	41
15	Phase I Clinical Pharmacology	
16	Phase 2 Dose-Finding Study	
17	Carlos Plata-Salaman, DSc, MD	48
18	Phase 3 Efficacy and Safety	
19	Neus Gascon, MD	55
20	Benefit-Risk Assessment	
21	Oscar de Leon-Casasola, MD	63
22	Clarifying Questions	72

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

C O N T E N T S (continued)

AGENDA ITEM	PAGE
<b>FDA Presentations</b>	
Review of Recent Data on Use, Misuse, Abuse, and Overdose of Tramadol and Comparator Opioid Analgesics	
Saranrat Wittayanukorn, PhD	95
Clarifying Questions	109
Open Public Hearing	124
Charge to the Committee	
Naomi Lowy, MD	150
Clarifying Questions (continued)	153
Questions to the Committee and Discussion	161
Adjournment	222

P R O C E E D I N G S

(8:00 a.m.)

**Call to Order**

**Introduction of Committee**

1 DR. LITMAN: Good morning. My name is Ron  
2 Litman. I'm the chair of the meeting today. I  
3 would first like to remind everyone to please  
4 silence your cell phones, smartphones, and any  
5 other devices you have not already done. I would  
6 also like to identify the FDA press contact, Nathan  
7 Arnold.  
8

9 Nathan, can you stand if you're here? Hi,  
10 Nathan.

11 I will now call the Joint Meeting of the  
12 Anesthetic and Analgesic Drug Products Advisory  
13 Committee and Drug Safety and Risk Management  
14 Advisory Committee to order. We will start by  
15 going around the table and introducing ourselves.  
16 We'll start with the FDA to my left and go around  
17 the table. Can you please -- this is for the  
18 panelists -- identify who you are and your  
19 expertise?  
20  
21  
22

1 DR. STAFFA: Good morning. I'm Judy Staffa.  
2 I'm the associate director for Public Health  
3 Initiatives in the Office of Surveillance and  
4 Epidemiology in the Center for Drugs.

5 DR. ROCA: Good morning. My name is Rigo  
6 Roca. I'm the acting director for the Division of  
7 Anesthesiology, Addiction Medicine, and Pain  
8 Medicine.

9 DR. LOWY: Good morning. I'm Naomi Lowy. I  
10 am the acting deputy director for the Division of  
11 Anesthesiology, Addiction Medicine, and Pain  
12 Medicine.

13 DR. HORN: Hi. I'm Pamela Horn. I'm a  
14 clinical team leader in the same division.

15 DR. CHIAPPERINO: Good morning. I'm Dominic  
16 Chiapperino. I'm director of the controlled  
17 substance staff in CDER.

18 MS. SHAW PHILLIPS: Good morning. Marjorie  
19 Shaw Phillips, clinical research pharmacy  
20 coordinator at AU Medical Center, Augusta  
21 University, and clinical professor at University of  
22 Georgia College of Pharmacy, med-use safety.

1 DR. GARCIA-BUNUEL: Good morning. Martin  
2 Garcia-Bunuel. I'm a primary care physician and  
3 the deputy to the staff for the VA Maryland Health  
4 Care System.

5 DR. GREEN: Traci Green. I'm an  
6 epidemiologist and the professor and director at  
7 the Opioid Policy Research Collaborative at The  
8 Heller School at Brandeis.

9 DR. HOFFER: Lee Hoffer. I'm an associate  
10 professor of medical anthropology and psychiatry at  
11 Case Western Reserve University in Cleveland, Ohio.

12 DR. MICHNA: Ed Michna, anesthesia and pain  
13 physician, Brigham and Women's Hospital in Boston.

14 DR. SETOGUCHI: Soko Setoguchi. I'm a  
15 general internist and pharmacoepidemiologist at  
16 Robert Wood Johnson Medical School.

17 DR. McCANN: Mary Ellen McCann. I'm an  
18 associate professor at Harvard Medical School in  
19 anesthesia and a pediatric anesthesiologist at  
20 Boston Children's Hospital.

21 DR. ZACHAROFF: Good morning. Kevin  
22 Zacharoff. My expertise is in anesthesiology and

1 pain medicine. I am a faculty clinical instructor  
2 and course director of pain and addiction at the  
3 Stony Brook School of Medicine.

4 DR. McAULIFFE: I'm Maura McAuliffe. I'm a  
5 professor of nursing and director of the Nurse  
6 Anesthesia Program at East Carolina University,  
7 Greenville, North Carolina.

8 DR. ZELTZER: Hi. I'm Lonnie Zeltzer. I'm  
9 distinguished professor of pediatrics,  
10 anesthesiology, and psychiatry at University of  
11 California Los Angeles and head of the Pediatric  
12 Pain and Palliative Care Program.

13 DR. GOUDRA: Good morning. Basavana Goudra,  
14 Penn medicine. I'm an associate professor of  
15 anesthesiology.

16 DR. CHOI: Moon Hee Choi, designated federal  
17 officer.

18 DR. LITMAN: Good morning. Ron Litman. I'm  
19 a professor of anesthesiology and pediatrics at  
20 Penn School of Medicine, Children's Hospital,  
21 Philadelphia and the medical director of the  
22 Institute for Safe Medication Practices.

1 DR. HERNANDEZ-DIAZ: Good morning. Sonia  
2 Hernandez-Diaz, professor of epidemiology at the  
3 Harvard Chan School of Public Health in Boston.

4 DR. SHOBNEN: I'm Abby Shoben. I'm a  
5 biostatistician at  
6 The Ohio State University.

7 DR. MEISEL: Steve Meisel, director of  
8 medication safety for M Health Fairview in  
9 Minneapolis.

10 DR. HIGGINS: Jennifer Higgins, the consumer  
11 representative to AADPAC.

12 MS. ROBOTTI: Suzanne Robotti, executive  
13 director of MedShadow Foundation and executive  
14 director of DES Action USA.

15 DR. BLOCK: Laura Block. I'm a pharmacist  
16 and a partner in Usagi Medical Group.

17 DR. AMIRSHAHI: Maryann Amirshahi. I'm an  
18 emergency medicine physician, medical toxicologist  
19 and addiction medicine physician here in  
20 Washington, D.C., affiliated with MedStar and  
21 Georgetown University.

22 DR. PISARIK: Paul Pisarik, urgent care

1 physician, Saint Francis Health System, Tulsa,  
2 Oklahoma.

3 DR. SANDBRINK: I'm Friedhelm Sandbrink.  
4 I'm a clinical associate professor of neurology at  
5 Uniformed Services University in Bethesda. I lead  
6 the pain program at the Washington, D.C. VA Medical  
7 Center, and I'm the national program director for  
8 pain management for the Veterans Health  
9 Administration.

10 DR. ZAAFRAN: Sherif Zaafran,  
11 anesthesiologist, Houston, Texas, Memorial Hermann  
12 Healthcare System, Chronic and Acute Pain Care  
13 Committee; vice chair of Clinical Governance Board  
14 for US Anesthesia Partners Gulf Coast.

15 DR. SUAREZ-ALMAZOR: Good morning. Maria  
16 Suarez-Almazor. I'm a rheumatologist and clinical  
17 epidemiologist and professor at the University of  
18 Texas MD Anderson Cancer Center.

19 DR. SULLIVAN: Good morning. I'm Patrick  
20 Sullivan. I'm an infectious disease epidemiologist  
21 at Emory University in Atlanta.

22 DR. MARSHALL: Good morning, everyone. I'm

1 Brandon Marshall. I'm an associate professor in  
2 epidemiology at the Brown University School of  
3 Public Health in Providence, Rhode Island.

4 DR. TYLER: Good morning. I'm Linda Tyler.  
5 I'm the chief pharmacy officer for University of  
6 Utah Health and associate dean in the College of  
7 Pharmacy.

8 DR. MEHTA: Hi. Good morning. Reema Mehta  
9 from Pfizer, head of Risk Management and Safety  
10 Surveillance Research.

11 DR. HORROW: My name is Jay Horrow. I'm the  
12 industry representative to the AADPAC and  
13 anesthesiologist. I'm clinical trial lead in  
14 cardiovascular medicines at Bristol-Myers Squibb.

15 DR. LITMAN: Thanks, everybody.

16 For topics such as those being discussed at  
17 today's meeting, there are often a variety of  
18 opinions, some of which are quite strongly held.  
19 Our goal is that today's meeting will be a fair and  
20 open forum for discussion of these issues and that  
21 individuals can express their views without  
22 interruption. Thus, as a gentle reminder,

1 individuals will be allowed to speak into the  
2 record only if recognized by the chair. We look  
3 forward to a productive meeting.

4 In the spirit of the Federal Advisory  
5 Committee Act and the Government in the Sunshine  
6 Act, we ask that the advisory committee members  
7 take care that their conversations about the topic  
8 at hand take place in the open forum of the  
9 meeting.

10 We are aware that members of the media are  
11 anxious to speak with the FDA about these  
12 proceedings, however, FDA will refrain from  
13 discussing the details of this meeting with the  
14 media until its conclusion. Also, the committee is  
15 reminded to please refrain from discussing the  
16 meeting topics during lunch or breaks.

17 Now, I'll pass it on to Moon Hee Choi who  
18 will read the Conflict of Interest Statement.

19 **Conflict of Interest Statement**

20 DR. CHOI: The Food and Drug Administration  
21 is convening today's Joint Meeting of the  
22 Anesthetic and Analgesic Drug Products Advisory

1 Committee and the Drug Safety and Risk Management  
2 Advisory Committee under the authority of the  
3 Federal Advisory Committee Act of 1972. With the  
4 exception of the industry representatives, all  
5 members and temporary voting members of the  
6 committees are special government employees or  
7 regular federal employees from other agencies and  
8 are subject to federal conflict of interest laws  
9 and regulations.

10 The following information on the status of  
11 this committee's compliance with federal ethics and  
12 conflict of interest laws, covered by but not  
13 limited to those found at 18 U.S.C. Section 208, is  
14 being provided to participants in today's meeting  
15 and to the public. FDA has determined that members  
16 and temporary voting members of these committees  
17 are in compliance with federal ethics and conflict  
18 of interest laws.

19 Under 18 U.S.C. Section 208, Congress has  
20 authorized FDA to grant waivers to special  
21 government employees and regular federal employees  
22 who have potential financial conflicts when it is

1 determined that the agency's need for a special  
2 government employee's services outweighs his or her  
3 potential financial conflict of interest, or when  
4 the interest of a regular federal employee is not  
5 so substantial as to be deemed likely to affect the  
6 integrity of the services which the government may  
7 expect from the employee.

8           Related to discussions of today's meeting,  
9 members and temporary voting members of these  
10 committees have been screened for potential  
11 financial conflicts of interest of their own as  
12 well as those imputed to them, including those of  
13 their spouses or minor children, and for purposes  
14 of 18 U.S.C. Section 208, their employers. These  
15 interests may include investments; consulting;  
16 expert witness testimony; contracts, grants,  
17 CRADAs; teaching, speaking, writing; patents and  
18 royalties; and primary employment.

19           The morning session of today's agenda  
20 involves discussion of new drug application,  
21 NDA 213426, for tramadol 44 milligrams and  
22 celecoxib 56-milligram tablet, which contains a

1 fixed-dose combination of an opioid and a  
2 nonsteroid anti-inflammatory drug submitted by  
3 Esteve Pharmaceuticals for the management of acute  
4 pain in adults that is severe enough to require an  
5 opioid analgesic and for which alternative  
6 treatments are inadequate. The committees will be  
7 asked to discuss the safety and efficacy data as  
8 well as the overall risk-benefit profile of the  
9 product.

10 This is a particular matters meeting during  
11 which specific matters related to Esteve's NDA will  
12 be discussed. Based on the agenda for today's  
13 morning session meeting and all financial interests  
14 reported by the committee members and temporary  
15 voting members, no conflict of interest waivers  
16 have been issued in connection with this meeting.  
17 To ensure transparency, we encourage all standing  
18 committee members and temporary voting members to  
19 disclose any public statements that they have made  
20 concerning the product at issue.

21 With respect to FDA's invited industry  
22 representatives, we would like to disclose that

1 Drs. Jay Horrow and Reema Mehta are participating  
2 in this meeting as nonvoting industry  
3 representatives, acting on behalf of regulated  
4 industry. Drs. Horrow's and Mehta's role at this  
5 meeting is to represent industry in general and not  
6 any particular company. Dr. Horrow is employed by  
7 Bristol-Myers Squibb and Dr. Mehta is employed by  
8 Pfizer.

9 We would like to remind members and  
10 temporary voting members that if the discussions  
11 involve any other products or firms not already on  
12 the agenda for which an FDA participant has a  
13 personal or imputed financial interest, the  
14 participants need to exclude themselves from such  
15 involvement and their exclusion will be noted for  
16 the record. FDA encourages all other participants  
17 to advise the committees of any financial  
18 relationships that they may have with the firm at  
19 issue. Thank you.

20 DR. LITMAN: Thanks, Moon.

21 We will now proceed with the FDA's  
22 introductory remarks from Dr. Naomi Lowy.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**FDA Opening Remarks - Naomi Lowy**

DR. LOWY: Good morning. Welcome to the advisory committee, the applicant and members of the public, to today's meeting. During this half-day session, we will be discussing Esteve's new drug application for celecoxib-tramadol, a fixed-dose combination drug product.

The proposed indication is for the management of acute pain in adults that is severe enough to require an opioid analgesic and for which alternative treatments are inadequate. The applicant has developed a formulation of two analgesics of different classes such that the proposed recommended dose of each component of the drug is less than the recommended dose of each component when taken individually for the management of acute pain. The product contains tramadol, an opioid with abuse potential, and the product has not been formulated in a manner intended to impart abuse-deterrent characteristics.

The company's phase 3 factorial study provides evidence that the combination product

1 provides analgesic efficacy. In addition, our  
2 review of the safety data from this program did not  
3 reveal any novel risks for the two drugs in the  
4 combination. The Comprehensive Addiction and  
5 Recovery Act of 2016, Section 106, requires that  
6 FDA refer new drug applications for opioids to an  
7 advisory committee before approval.

8 The company will begin this morning's  
9 presentations. Although FDA will not be presenting  
10 standard efficacy and safety data beyond those  
11 included in your background document, you will hear  
12 one presentation from FDA in which  
13 Dr. Wittayanukorn, our epidemiologist, will review  
14 recent data on use, misuse, and abuse of tramadol  
15 and comparator drugs. We hope that these data  
16 inform your thinking of this product's risk-benefit  
17 assessment in the current landscape.

18 After clarifying questions, a break, and the  
19 open public hearing, I will then give the charge to  
20 the committee. As you listen this morning to the  
21 presentations and clarifying questions, please keep  
22 in mind we will be asking you to discuss two

1 points. I will not go through them in detail, but  
2 the first point asks you to think about the abuse  
3 potential of this product and its impact on public  
4 health. The second point asks you to weigh  
5 benefit-risk and if any additional data are needed.  
6 And finally, you will be asked to vote on whether  
7 you recommend approval.

8 Thank you again for your participation, and  
9 we look forward to an informative discussion.

10 DR. LITMAN: Thanks, Dr. Lowy.

11 Both the Food and Drug Administration and  
12 the public believe in a transparent process for  
13 information gathering and decision making. To  
14 ensure such transparency at the advisory committee  
15 meeting, FDA believes that is important to  
16 understand the context of an individual's  
17 presentation.

18 For this reason, FDA encourages all  
19 participants, including the applicant's  
20 non-employee presenters, to advise the committee of  
21 any financial relationships that they may have with  
22 the applicant such as consulting fees, travel

1 expenses, honoraria, and interest in the sponsor,  
2 including equity interests and those based on the  
3 outcome of the meeting.

4 Likewise, FDA encourages you at the  
5 beginning of your presentation to advise the  
6 committee if you do not have any such financial  
7 relationships. If you choose not to address this  
8 issue of financial relationships at the beginning  
9 of your presentation, it will not preclude you from  
10 speaking.

11 We will now proceed with Esteve  
12 Pharmaceutical's presentation.

13 **Applicant Presentation - Mark Mayhew**

14 DR. MAYHEW: Good morning. My name is Mark  
15 Mayhew, and I'm the director of the CTC Program at  
16 Esteve. I want to start by thanking the FDA and  
17 the advisory committee members for the time you've  
18 spent preparing for today's meeting.

19 As we all know, there is an urgent need for  
20 analgesic medications that can provide substantial  
21 efficacy with a low potential for abuse and  
22 dependence. We believe that our co-crystal is such

1 a medication. As we will show you today, CTC  
2 significantly improves upon the efficacy of  
3 tramadol, a Schedule IV opioid, to create a viable  
4 alternative to Schedule II opioids for appropriate  
5 patients.

6 I would like to provide the rationale for  
7 creating a co-crystal rather than a simple  
8 fixed-dose combination. A simple fixed-dose  
9 combination combines two active pharmaceutical  
10 ingredients, or APIs, into one dosage form. Such  
11 combinations retain the same dissolution profiles  
12 and the same PK as either of the APIs taken alone,  
13 with the goal of providing improved efficacy over  
14 either drug; however, the pharmacology of each API  
15 remains the same.

16 A co-crystal is different because the  
17 components are bonded in a crystalline structure.  
18 Unlike standard fixed-dose combination, the  
19 intention is to create different dissolution  
20 profiles for both APIs, which translates into  
21 differentiated pharmacokinetics. The clinical goal  
22 is to improve efficacy and safety or tolerability

1 over each API taken alone or taken together.

2 The discovery of a therapeutically useful  
3 co-crystal is a complex process. In the drug  
4 discovery phase, we sought a compound that met four  
5 specific criteria. First, the APIs had to act on  
6 complementary mechanisms and sites of action;  
7 second, they had to provide pain relief and  
8 anti-inflammatory properties; third, they had to  
9 have physicochemical properties and pharmacokinetic  
10 characteristics that could be improved; fourth,  
11 there had to be no known deleterious interactions  
12 between them.

13 The ability to co-crystallize to APIs is  
14 difficult to predict because it depends on the  
15 chemical structures of the individual components,  
16 therefore, we applied the technology to many  
17 potential pairs. Once such a co-crystal is  
18 generated, the molecular ratio between the two APIs  
19 has to be clinically relevant and therapeutically  
20 useful. Lastly, the co-crystal needs to modify the  
21 profiles of both components in a favorable manner  
22 to improve the clinical characteristics compared

1 with both APIs taken alone or together.

2 In Esteve's experience, the probability of  
3 creating a co-crystal meeting all criteria for  
4 clinical utility is very low, less than  
5 0.01 percent. CTC is a first-in-class API and API  
6 co-crystal of two FDA-approved analgesics, tramadol  
7 and celecoxib. The novel co-crystal structure  
8 provides a differentiated and improved pharmacology  
9 of both APIs in a multimodal treatment approach.  
10 For the presentation today, we will be referring to  
11 the co-crystal as CTC, which stands for the  
12 co-crystal of tramadol and celecoxib. This is the  
13 name we have used to refer to the product in  
14 scientific literature. We've also used the code  
15 E-58425.

16 CTC is a new chemical structure in which  
17 tramadol and celecoxib are integrated in a defined  
18 one-to-one, stoichiometric ratio within the same  
19 crystal lattice through non-covalent hydrogen  
20 bonds. CTC is formulated as a 100-milligrams  
21 immediate-release tablet. Each tablet contains 44  
22 milligrams of tramadol and 56 milligrams of

1 celecoxib. The ratio of tramadol to celecoxib is  
2 defined by the crystallization process. The dosing  
3 regimen is 2 tablets every 12 hours.

4 Like all opioids, CTC should be used for the  
5 shortest duration consistent with individual  
6 patient treatment goals. Based on the results of a  
7 food effect study, CTC can be administered without  
8 regard to meals. Since tramadol is rarely abused  
9 by nonoral routes, CTC was not formulated with  
10 abuse-deterrent excipients.

11 One of the most important considerations in  
12 the benefit-risk profile of an opioid analgesic is  
13 its abuse potential. The DEA schedules drugs in  
14 consultation with the FDA based on whether they  
15 have an accepted medical use and their inherent  
16 potential for abuse and dependence.

17 Schedule I drugs such as heroin have high  
18 abuse potential and do not have an acceptable  
19 medical use. Schedule II drugs also have a high  
20 abuse potential but do have an acceptable medical  
21 use. These include opioids such as oxycodone and  
22 hydrocodone. Schedule IV drugs have a low

1 potential for abuse and a low risk of dependence.  
2 CTC contains tramadol, which is an opioid in this  
3 category. The other component, celecoxib, has no  
4 abuse potential.

5 CTC targets for complementary pain relief  
6 mechanisms in a multimodal treatment approach.  
7 Tramadol and its active metabolite reduce pain as a  
8 partial agonist of the mu-opioid receptor and by  
9 inhibiting the reuptake of serotonin and  
10 norepinephrine. Celecoxib is a nonsteroidal  
11 anti-inflammatory drug that selectively inhibits  
12 COX-2, an enzyme responsible for inflammation and  
13 pain. These analgesic and anti-inflammatory  
14 properties are complementary and synergistic,  
15 improving the ability to modulate the perception of  
16 and response to pain.

17 Tramadol and celecoxib are both FDA-approved  
18 products and have been used in clinical practice  
19 for more than 20 years. Immediate-release tramadol  
20 was approved by the FDA in 1995. For acute pain,  
21 tramadol is administered at 50 to 100 milligrams as  
22 needed every 4 to 6 hours with a maximum daily dose

1 of 400 milligrams in adults. The maximum daily  
2 dose is reduced in special populations; 200  
3 milligrams per day in patients with impaired  
4 creatinine clearance and 300 milligrams per day for  
5 patients 75 and older.

6 The daily dose of CTC contains 176  
7 milligrams of tramadol, less than half of the  
8 approved maximum for tramadol alone in adults, and  
9 is also below the maximum dose in special  
10 populations.

11 Celecoxib was first approved by the FDA in  
12 1998 for the treatment of osteoarthritis and  
13 rheumatoid arthritis. It was approved for the  
14 treatment of acute pain in adults in 2001. For  
15 acute pain, the initial dose is 400 milligrams and  
16 200 milligrams twice daily thereafter. The maximum  
17 daily dose for adults is 600 milligrams on the  
18 first day and 400 milligrams thereafter.

19 In patients with moderate hepatic  
20 impairment, the celecoxib label recommends reducing  
21 the dose by approximately 50 percent. The daily  
22 dose of CTC contains 224 milligrams of celecoxib,

1 which is about half of the approved maximum for  
2 celecoxib alone in adults.

3           Given the extensive existing data with both  
4 of its FDA approved APIs, the new drug application  
5 for CTC was filed under the 505(b)(2) regulatory  
6 pathway. This allows the agency to rely on the  
7 previous findings of safety and effectiveness for  
8 the reference-listed drugs, Ultram and Celebrex.  
9 The agency determined that one full factorial phase  
10 3 study would be sufficient to support the NDA. By  
11 full factorial design, we mean that CTC had to  
12 demonstrate significantly greater efficacy than its  
13 individual APIs at comparable doses as present in  
14 CTC.

15           The CTC new drug application is supported by  
16 five phase 1 studies, one phase 2 study, and one  
17 phase 3 study. Today we will focus on the results  
18 from our pivotal single-dose and multiple-dose  
19 crossover studies, our phase 2 dose-finding study,  
20 and our pivotal phase 3 trial. Results from our  
21 clinical development program demonstrate CTC's  
22 positive benefit-risk profile.

1           As we will show today, the co-crystal  
2 provides a favorable and differentiated  
3 pharmacology of both its active ingredients. This  
4 translates into greater efficacy than both of its  
5 individual APIs, as well as better tolerability and  
6 a comparable overall safety profile relative to  
7 tramadol.

8           Importantly, CTC has a low potential for  
9 abuse and a low risk of dependence consistent with  
10 tramadol's Schedule IV classification. These data  
11 suggest that CTC will be a favorable treatment  
12 alternative for appropriate patients with acute  
13 pain who might otherwise be prescribed a Schedule  
14 II opioid. In this way, CTC may reduce exposure of  
15 patients and communities to opioid medications that  
16 have a higher potential for abuse and dependence.

17           We are requesting the same indication as  
18 currently approved for tramadol; that is for the  
19 management of acute pain in adults that is severe  
20 enough to require an opioid analgesic and for which  
21 alternative treatments are inadequate.

22           Here is the agenda for the remainder of our

1 presentation. We also have additional experts with  
2 us today, including Dr. Rick Dart from the RADARS  
3 system. All experts or their institutions have  
4 been compensated for their time and travel to  
5 today's meeting. None have an equity interest in  
6 today's outcome. Thank you, and I'll now pass the  
7 lectern over to Dr. Viscusi.

8 **Applicant Presentation - Eugene Viscusi**

9 DR. VISCUSI: Thank you and good morning. I  
10 am Gene Viscusi. I'm a professor of anesthesiology  
11 and chief of pain medicine at Thomas Jefferson  
12 University in Philadelphia. I'm also the current  
13 president of ASRA, the American Society of Regional  
14 Anesthesia and Pain Medicine.

15 I am incredibly proud of ASRA's more than 45  
16 years supporting non-opioids, minimizing opioids,  
17 and promoting regional anesthesia techniques for  
18 perioperative pain management. I've dedicated much  
19 of my career in academic medicine to the research  
20 and development of newer and safer treatments to  
21 reduce reliance on opioids, to advance multimodal  
22 analgesia, and to develop guidelines for the

1 treatment of postoperative pain.

2 We are caught in a dilemma. We all  
3 acknowledge the opioid crisis and we all work to  
4 reduce the amount of opioids available in the  
5 public availability, but we also have an obligation  
6 to treat pain. For those patients whose pain is  
7 severe enough to warrant an opioid, in addition to  
8 multimodal techniques, there is an urgent need for  
9 treatment options that have efficacy with a lower  
10 potential for abuse and dependence.

11 Professional societies have developed  
12 comprehensive evidence-based guidelines for  
13 postoperative pain management. The heart of these  
14 programs is virtually always the advancement of  
15 multimodal analgesic techniques. That concept is  
16 the use of a variety of analgesic medications and  
17 regional anesthesia techniques in combination to  
18 reduce postoperative pain.

19 I have been at times accused of promoting  
20 picking patients with multiple drugs, and it's very  
21 important to understand that multimodal analgesia  
22 is not just throwing a bunch of analgesics at

1 patients; it recognizes that pain, postoperative  
2 pain, has multiple pathways and is a mixed-pain  
3 syndrome.

4           The concept of multimodal analgesia is  
5 targeted analgesic therapy, understanding those  
6 pathways so that you can get the most pristine,  
7 comprehensive approach to all of those drivers for  
8 pain for particular kind of exposure; then by doing  
9 so, you can minimize opioids where they are used as  
10 adjunctive agents. So the concept is now we can  
11 minimize opioids and non-opioid medications to the  
12 lowest doses to produce the safest and best  
13 analgesia.

14           The misuse, abuse, and dependence of  
15 prescription opioids remains a serious public  
16 health crisis. Use of perioperative opioids can  
17 lead to chronic opioid use. A recent paper by Chad  
18 Brummett published in JAMA Surgery demonstrated  
19 that between 6 and 10 percent of patients who have  
20 had surgery and received an opioid will still be  
21 using opioids in a year. That translates to  
22 2 million patients a year with persistent opioid

1 use. Therefore, our best practices for  
2 perioperative pain management are to rely on  
3 non-opioid, multimodal analgesic techniques,  
4 regional anesthetic techniques with local  
5 anesthetics, and the lowest opioid dose for the  
6 shortest period of time.

7 Much of the concern around the abuse of  
8 prescription opioids is with respect to the  
9 Schedule II opioids which have the highest rate of  
10 abuse. Data from the U.S. conducted by the RADARS  
11 system shows that tramadol is associated with a low  
12 rate of abuse. Most abuse of opioid medications is  
13 by the oral route. Tramadol is associated with  
14 less than half of the rate of oral abuse relative  
15 to oxycodone and hydrocodone. In terms of the more  
16 dangerous nonoral routes such as inhalation and  
17 injection, the abuse of tramadol is low.

18 Another way to evaluate relative potential  
19 for abuse is to simply ask individuals who abuse  
20 opioids which they prefer. This is exactly what  
21 the researchers at Washington University in  
22 St. Louis did. They surveyed 738 opioid-dependent

1 individuals and asked them to rank order their  
2 preferred opioids. The survey found that oxycodone  
3 and hydrocodone had the highest preference;  
4 tramadol was last on this list. This is consistent  
5 with the DEA schedules of the various types of  
6 opioids. The reason for the low preference of  
7 tramadol is because it is simply hard to get high  
8 with tramadol.

9 On this slide, I will show results of a  
10 human abuse potential study that evaluated various  
11 doses of oral tramadol. On the Y-axis, I show the  
12 drug-high results on a 100-point VAS unipolar  
13 scale; zero is not high at all and 100 hundred  
14 means extremely high. I'll plot the maximum or  
15 Emax scores.

16 As expected, placebo doesn't produce a high.  
17 The 87.5-milligram tramadol dose didn't separate  
18 from placebo. This is notable since 88 milligrams  
19 of tramadol is what is contained in a single  
20 therapeutic dose of CTC. Even the supratherapeutic  
21 doses of tramadol at 175 and 350 milligrams, which  
22 would be the equivalent of 2 and 4 times the dose

1 of CTC, did not lead to meaningful endorsement of  
2 drug high.

3 For comparison, here are the drug-high  
4 results for oral hydrocodone and oxycodone. The  
5 drug-high results for tramadol were lower than the  
6 suprathreshold doses of both immediate-release  
7 hydrocodone and oxycodone products. These were  
8 evaluated in other human abuse studies that used  
9 the same VAS score. Similar results were seen for  
10 drug liking.

11 To summarize, historically, opioids have  
12 been used as stand-alone agents for postoperative  
13 pain. This has unquestionably contributed to the  
14 opioid crisis; major adverse events such as  
15 respiratory depression and nuisance opioid side  
16 effects; and persistent use, abuse, and misuse.

17 Today, multimodal analgesia should be  
18 considered standard care for postoperative pain and  
19 should rely first on that foundation of non-opioid  
20 analgesics and whenever possible, regional  
21 anesthetic techniques and local anesthetic  
22 techniques. For appropriate patients, opioid

1 analgesics still have a useful place as adjuncts in  
2 multimodal analgesia.

3 We have treated the Schedule II opioids as  
4 the gold standard for postoperative pain, and what  
5 I can say from my experience with these drugs is  
6 that we have given them credit for analgesic  
7 potency that they just don't have, and this has  
8 given us this incredible lead into the current  
9 opioid crisis. There is an inherently high  
10 potential for abuse and dependence with these  
11 agents.

12 Tramadol has less abuse liability than  
13 Schedule IV opioids, but as a stand-alone agent, it  
14 has been perceived as being less effective than  
15 Schedule II opioids. However, in the context of  
16 contemporary multimodal analgesic techniques,  
17 tramadol is an effective analgesic agent.

18 The message I want to leave you with is that  
19 the treatment of postoperative pain remains a  
20 significant healthcare need. The past history of  
21 reliance on Schedule II opioids as stand-alone  
22 agents has resulted in a contribution to the opioid

1 crisis and the chronicity of opioid use. It is  
2 inappropriate to use opioids alone in the context  
3 of contemporary multimodal analgesia. We need  
4 opioid alternatives with low-abuse liability than  
5 the Schedule II products.

6 Thank you, and now I'll turn over the  
7 presentation to Dr. Plata-Salaman.

8 **Applicant Presentation - Carlos Plata-Salaman**

9 DR. PLATA-SALAMAN: Good morning. I am  
10 Carlos Plata-Salaman, chief scientific officer and  
11 chief medical officer at Esteve. I am pleased to  
12 present the phase 1 clinical pharmacology data and  
13 the results from our phase 2 dose-finding study.

14 Phase 1 single- and multiple- dose crossover  
15 studies provide a clear assessment of  
16 pharmacokinetics, safety, and tolerability.  
17 Crossover designs provide certain distinct  
18 advantages over parallel group designs. Since all  
19 participants receive each of the study drugs in a  
20 random order, they act as their own control, which  
21 addresses genetic and metabolic heterogeneity.  
22 The design also avoids confounding the safety and

1 tolerability profile from rescue and concomitant  
2 medication that cannot be done in a phase 3 trial  
3 in acute pain. Therefore, crossover designs  
4 provide precise estimates of the effects of each  
5 study drug.

6 In the case of CTC, these studies support  
7 the difference of co-crystal effect compared to the  
8 individual components and the combination, and  
9 contribute to the understanding of the totality of  
10 the clinical profile of CTC.

11 I will now start the presentation of results  
12 with Study 101. This was a single-center,  
13 randomized, single-dose, open-label, 4-way  
14 crossover bioavailability study in 36 healthy  
15 volunteers under fasted conditions. The study  
16 evaluated the PK profile of CTC compared to  
17 tramadol alone, celecoxib alone, or tramadol and  
18 celecoxib taken together.

19 Here tramadol refers to Ultram and celecoxib  
20 to Celebrex. Participants received only study  
21 drugs in a random order with a 7-day washout period  
22 between each dose. First, let us look at the

1 tramadol plasma concentration curves over the first  
2 12 hours, the proposed dosing interval for CTC.

3 This is the tramadol PK curve for tramadol  
4 alone. The tramadol PK curve for tramadol  
5 administered with celecoxib was essentially  
6 identical. The tramadol PK curve for CTC is  
7 plotted in blue. Compared to tramadol alone or  
8 with celecoxib, CTC has a lower Cmax and a later  
9 Tmax. Similar results were observed for tramadol's  
10 active metabolite M1. With CTC, this lower onset  
11 of tramadol and its metabolite provided a better  
12 tolerability profile than tramadol taken alone or  
13 with celecoxib.

14 One of the primary issues with opioids are  
15 patients' intolerance to gastrointestinal adverse  
16 effects such as nausea and vomiting. The incidence  
17 of opioid-related adverse events with CTC was  
18 3 percent compared to approximately 20 percent for  
19 both tramadol alone or with celecoxib, showing the  
20 co-crystal effect. These differences in tramadol  
21 PK intolerability show that the co-crystal is  
22 different than a simple combination product.

1           Next, let us look at celecoxib. Here is a  
2 PK curve for celecoxib taken alone. Next, as you  
3 can see, celecoxib absorption is inhibitive when  
4 co-administered with tramadol in a simple  
5 combination. The celecoxib PK curve for CTC is  
6 plotted in blue. CTC eliminates the interference  
7 of celecoxib absorption when taken with tramadol  
8 and also accelerates absorption relative to  
9 celecoxib taken alone.

10           The favorable PK profile of CTC was  
11 replicated in a multiple-dose crossover study in  
12 healthy subjects who received the study drugs in a  
13 random order with 12-hour dosing over a 7 and a  
14 half day period. We confirmed the favorable  
15 tramadol and celecoxib PK absorption with  
16 multiple-dose administration, including the lower  
17 and longer Cmax for tramadol and M1, one faster  
18 absorption of celecoxib, and the lack of  
19 interference with celecoxib absorption when  
20 administered with tramadol.

21           We also replicated the favorable  
22 tolerability findings. The incidence of overall

1 adverse events and related adverse events with CTC  
2 were half that of tramadol and were lower than the  
3 co-administration of tramadol and celecoxib as  
4 well. Full results for this study were provided in  
5 our briefing book.

6 To summarize, results demonstrate that CTC  
7 improves the pharmacologic profile of its APIs  
8 compared to the individual or combined  
9 administration. CTC provides a lower Cmax or peak  
10 concentration and a longer Tmax for tramadol, as  
11 well as faster absorption of celecoxib. These  
12 features were shown to provide better tolerability  
13 for tramadol in terms of opioid-related adverse  
14 events. We would expect an efficacy benefit for  
15 faster absorption of celecoxib as well.

16 CTC also eliminates the interference in  
17 celecoxib absorption that occurs with tramadol when  
18 administered as a simple combination. This shows  
19 that CTC is structurally and pharmacologically  
20 distinct from a simple combination, supporting the  
21 benefits of its co-crystal extraction. Data from  
22 our phase 1 studies demonstrate similar results in

1 female and male subjects with single and multiple  
2 doses of CTC twice daily for 7 days in fed and  
3 fasted conditions in subjects with different  
4 ethnicities and in studies in the United States and  
5 out of the United States.

6 Next, I will review the results from our  
7 phase 2 dose-finding Study 201. Its goal was to  
8 identify a dose of CTC that would provide maximum  
9 efficacy without increasing the incidence of  
10 adverse events relative to tramadol alone. First,  
11 I will review the primary efficacy endpoint, the  
12 sum of pain intensity differences, or SPID, from 0  
13 to 8 hours.

14 This slide will show the primary endpoint  
15 results. A score less than zero represents a  
16 reduction in pain intensity. In the four CTC dose  
17 groups, we observed a dose-dependent effect as  
18 would be expected with an analgesic. In contrast,  
19 pain scores increase slightly through 8 hours in  
20 the tramadol and placebo groups.

21 All CTC doses, except the lowest dose,  
22 showed statistically significantly better pain

1 control than placebo and tramadol, with CTC  
2 200 milligrams providing the greatest reduction in  
3 pain scores from 0 to 8 hours. One of our  
4 secondary endpoints was SPID from 0 to 12 hours.  
5 Similar results were observed for SPID from 0 to 12  
6 hours, as was observed for the primary endpoint  
7 through 8 hours. These results suggested that  
8 12 hours was a suitable dosing interval for CTC.

9 Next, I will review the safety results from  
10 Study 201. As would be expected for an analgesic,  
11 the incidence of adverse events increase with  
12 higher CTC doses. The rate of adverse events with  
13 CTC 200 milligrams was similar to tramadol  
14 100 milligrams, which were mostly opioid related.  
15 These align with the study goal of identifying the  
16 CTC dose that maximizes efficacy without  
17 potentiating adverse events relative to tramadol.  
18 There were no adverse events that led to treatment  
19 discontinuation in any group.

20 In summary, results from our phase 2 study  
21 demonstrated that CTC 200 milligrams provided  
22 maximum analgesic efficacy while maintaining a

1 comparable safety profile to tramadol alone. In  
2 addition, sufficient pain relief was demonstrated  
3 with 12-hour dosing. Based on these conclusions,  
4 we move forward with CTC 200 milligrams every 12  
5 hours for the phase 3 study.

6 Thank you. I will now turn the presentation  
7 over to Dr. Gascon.

8 **Applicant Presentation - Neus Gascon**

9 DR. GASCON: Thank you. I am Neus Gascon,  
10 head of medical sciences, and I will present the  
11 phase 3 efficacy and safety data, starting with the  
12 design and efficacy results from our pivotal Study  
13 301. This was a randomized, double-blind placebo  
14 and active-controlled study in bunionectomy with  
15 osteotomy. This is a common surgical pain model  
16 for new analgesics because it is an intensely  
17 painful bun removal procedure that typically  
18 requires opioids to manage pain.

19 1,323 patients were screened for Study 301.  
20 Prior to surgery, patients received regional  
21 anesthesia via continuous popliteal sciatic nerve  
22 block. Unlike clinical practice, the morning after

1 surgery, patients had the nerve block discontinued,  
2 and their pain scores were evaluated. Only  
3 patients who reached a moderate to severe pain  
4 score were randomized. Overall, 637 patients were  
5 randomized to receive CTC, tramadol, celecoxib, or  
6 placebo.

7 This clinical trial was designed based on  
8 advice from the FDA. The FDA recommended a full  
9 factorial study to demonstrate a significant  
10 improvement of CTC versus each of the individual  
11 active components and a placebo group to support  
12 assay sensitivity. Study medication, either an  
13 active drug or placebo, was administered every  
14 6 hours for 48 hours. This double-dosing schedule  
15 was used to blinding of the study participants to  
16 the treatment they received.

17 The dosing for the tramadol and celecoxib  
18 arms was based on FDA recommendations. CTC was  
19 administered at the total daily dose of  
20 400 milligrams, which contains 176 milligrams of  
21 tramadol and 224 milligrams of celecoxib. The  
22 total daily dose of tramadol and celecoxib were

1 both 200 milligrams.

2 The primary efficacy endpoint was the sum of  
3 pain intensity differences from 0 to 48 hours.

4 Pain was measured at many time points throughout  
5 the study using a numeric pain rating scale, where  
6 zero represents no pain and 10 represents the worst  
7 possible pain. Pain scores were adjusted for the  
8 use of rescue medication. This information can be  
9 found in our briefing document.

10 Baseline characteristics were similar  
11 between the groups. The mean age was approximately  
12 46 years and ranged from 18 years to 77 years. The  
13 majority of patients were female and approximately  
14 20 percent of patients were African American. The  
15 mean BMI was approximately 28. The rate of  
16 treatment discontinuations or study withdrawals  
17 were consistent, low across all the treatment  
18 groups, supporting that CTC is well tolerated.

19 Before moving to the study results, I want  
20 to briefly discuss the ways in which efficacy is  
21 characterized. Endpoints relating to the magnitude  
22 of efficacy are the primary endpoints in trials for

1 regulatory approval. These endpoints evaluate pain  
2 scores at different time points and cumulative pain  
3 relief such as SPID. However, we cannot only use  
4 measures of magnitude since you can always get  
5 lower pain scores by just increasing the dose of an  
6 opioid. This is why we also look at sufficiency of  
7 efficacy, the ability to provide clinically  
8 meaningful pain relief with the lowest exposure to  
9 rescue medication.

10 Let's review the results starting with the  
11 endpoints related to the magnitude of efficacy. On  
12 the primary efficacy endpoint, CTC demonstrated a  
13 statistically significantly lower pain score than  
14 tramadol, celecoxib, and placebo through 48 hours,  
15 so the primary efficacy endpoint was met. Since  
16 treatments for pain have a substantial placebo  
17 effect, the magnitude of efficacy needs to be  
18 compared after adjusting for the effect of placebo.

19 This forest plot illustrates the  
20 placebo-adjusted treatment effect for CTC,  
21 tramadol, and celecoxib. Scores to the left of  
22 zero indicate greater efficacy than placebo, and as

1 you can see, CTC approximately doubled the  
2 analgesic efficacy of each of its individual  
3 components, showing that the differences between  
4 CTC and its active components were not only  
5 statistically significant but also clinically  
6 relevant.

7 This slide will show the average change from  
8 baseline in pain scores over the 48-hour treatment  
9 period with imputation for rescue medication use.  
10 The table in the upper right shows the daily dosing  
11 schedule. The baseline mean pain intensity score  
12 for all the treatment groups was 6.7. Decreasing  
13 pain score over time was observed in the placebo  
14 group. Tramadol and celecoxib, shown in red and  
15 pink, provided reductions in pain compared with  
16 placebo; and now including CTC in blue, pain scores  
17 separated early and were lower than all the other  
18 groups at every time point.

19 Next, let's review the sufficiency of  
20 efficacy, which we assess by comparing the use of  
21 rescue medication. First-line rescue medication  
22 was intravenous acetaminophen, which was used by

1 most patients in all treatment groups, however, the  
2 most relevant public health measure for rescue is  
3 the use of Schedule II oxycodone. The lower use of  
4 oxycodone rescue in the CTC group was evident a few  
5 hours after the first dose and was sustained  
6 throughout the 48 hours. Through 48 hours, fewer  
7 patients in the CTC group had requested oxycodone  
8 rescue medication.

9 We also evaluated the use of rescue  
10 medication over time to evaluate the sufficiency of  
11 the proposed 12-hour dosing interval for CTC. This  
12 slide will show the percentage of CTC patients  
13 using oxycodone rescue on the Y-axis relative to  
14 different time intervals on the X-axis. As you can  
15 see, the percentage of patients utilizing oxycodone  
16 rescue over time was low in each interval.

17 These arrows identify the timing at each CTC  
18 dose over the 48-hour treatment period. There was  
19 no sign of a clinically significant increase in the  
20 need for oxycodone rescue at the 12-hour dosing  
21 time points, suggesting that the proposed 12-hour  
22 interval for CTC is appropriate.

1           Next, I will review the CTC tolerability and  
2 safety profile. Consistent with our prior studies,  
3 CTC was associated with better tolerability  
4 relative to tramadol alone. In the 2 hours after  
5 the first dose, even though CTC patients received  
6 nearly twice as much tramadol as the tramadol-alone  
7 group, 88 versus 50 milligrams, the rate of overall  
8 adverse events and opioid-related adverse events  
9 was lower with CTC.

10           This finding is particularly relevant since  
11 50 milligrams is the lowest dose of tramadol used  
12 for acute pain. The favorable tolerability profile  
13 of CTC relative to tramadol alone further supports  
14 the clinical benefits of the co-crystal in  
15 patients.

16           This slide summarizes safety from enrollment  
17 to about 9 days after surgery, including the  
18 aggregate of adverse events from study drugs,  
19 concomitant and rescue medications, and medical  
20 procedures. Most patients in all treatment groups  
21 experienced an adverse event. Adverse events  
22 related to study drug were 38 percent for CTC and

1 49 percent for tramadol. The majority of adverse  
2 events were mild to moderate in intensity, and no  
3 patients experienced a serious adverse event.

4 The rates of adverse events leading to  
5 discontinuation of study medication were low. The  
6 most common adverse events in all groups were of  
7 gastrointestinal nature, including nausea,  
8 vomiting, and constipation. Compared to tramadol,  
9 CTC was associated with similar or fewer  
10 gastrointestinal adverse events, however, the rate  
11 was higher compared to celecoxib and placebo, as  
12 would be expected with an opioid.

13 In summary, the development program has  
14 demonstrated the clinical benefits of CTC. Its  
15 differentiated pharmacology and multimodal approach  
16 provides greater magnitude and sufficiency of  
17 efficacy than its components with a better  
18 tolerability and comparable safety profile to  
19 tramadol. CTC provides patients with significant  
20 relief from acute pain with an intrinsically low  
21 abuse potential.

22 Thank you for your attention, and I will now

1 turn the presentation to Dr. de Leon to provide his  
2 benefit-risk assessment of CTC.

3 **Applicant Presentation - Oscar de Leon-Casasola**

4 DR. DE LEON-CASASOLA: Thank you so very  
5 much. Good morning to all the members of the  
6 committee. I am Oscar de Leon-Casasola. I am the  
7 chief of the Division of Pain Medicine at the  
8 Roswell Park Cancer Institute and professor of  
9 oncology there. I'm also a professor of  
10 anesthesiology and medicine at the university at  
11 Buffalo School of Medicine.

12 From 2015 to 2017, I had the honor to serve  
13 as the president of the American Society of  
14 Regional Anesthesia and Pain Medicine. Dr. Viscusi  
15 outlined the goals of the society and the  
16 achievements in the space of acute pain medicine.

17 I was also fortunate to co-chair the Joint  
18 Committee of the American Society of  
19 Anesthesiologists; the American Society of Regional  
20 Anesthesia and Pain Medicine; and the American Pain  
21 Society, which drafted the guidelines for treatment  
22 of acute pain that were published in 2016. Esteve

1 requested my participation today to provide a  
2 benefit-risk assessment of CTC. Dr. Mayhew has  
3 already established my conflicts of interest with  
4 the company.

5 As you are aware, in June of 2019, the FDA  
6 issued a draft framework to guide the benefit-risk  
7 assessment of novel opioid analgesics. This  
8 guidance recognizes that opioids are essential  
9 medications, though they pose unique risks to  
10 patients and public health that require careful  
11 consideration.

12 I will be using the five FDA domains in this  
13 framework to guide my assessment. The first domain  
14 us benefits to patients. The data presented today,  
15 along with decades of both tramadol and celecoxib  
16 abuse that have allowed a lot of assessments as far  
17 as efficacy in this space, showed that CTC will be  
18 an effective analgesic for acute pain treatment.

19 In the phase 3 trial, CTC showed greater  
20 efficacy than tramadol or celecoxib, suggesting  
21 that it will be a favorable treatment alternative  
22 to Schedule II opioids for an appropriate group of

1 patients. Since CTC contains an equivalent low  
2 opioid dose, the expectation is that it will  
3 provide important safety advantages as well.  
4 Please allow me to explain this statement.

5 The slide shown here has the maximum daily  
6 opioid doses for Percocet, Vicodin, Ultram, and  
7 CTC. To compare relative opioid risk, that is to  
8 say compare apples to apples, we need to convert  
9 the maximum daily dose into morphine milligram  
10 equivalents or MME. Here I am using the conversion  
11 factors published by CMS.

12 When we multiply the maximum daily dose by  
13 the conversion factor, we get the maximum daily  
14 dose in MME, 90 for Percocet, 60 Vicodin, 40 for  
15 Ultram, and 17.6 for CTC. This is very important  
16 because MME add an important value since there is a  
17 strong relationship between the amount of opioid  
18 exposure and mortality risk from respiratory  
19 depression.

20 This figure shows the results from a large  
21 case control study of more than 600,000 patients  
22 carried in the province of Ontario in Canada. The

1 odds ratios show the likelihood of drug-related  
2 mortality due to respiratory depression for  
3 different ranges of daily doses of opioids compared  
4 to a low daily dose of 20 MME or less. This is the  
5 dose that corresponds to the daily opioid dose in  
6 CTC.

7 The mortality risk, as you can see, was 2 to  
8 3 times higher for patients receiving opioid doses  
9 greater than 50 MME when they compared to those who  
10 received less than 20 MME per day. There was a  
11 modest signal of increased risk for doses above  
12 20 MME as well; hence, the recommendations from the  
13 surgeon general for the observation of these  
14 patients when they are getting doses greater than  
15 50 MME.

16 Based on this study and others, the CDC has  
17 provided guidelines to clinicians for safer opioid  
18 prescribing. The CDC considers doses of 20 to  
19 50 MME per day as relatively low and doses above  
20 50 MME per day as high. The maximum daily dose of  
21 Percocet and Vicodin will fall into the high range.  
22 Ultram will be in the relatively low range.

1       Conversely, the daily dose of CTC is below the  
2       threshold and is considered a low opioid dose.

3               Regarding risk to patients, no safety risk  
4       beyond those known for tramadol or celecoxib were  
5       observed in the clinical program. Moreover, all  
6       excipients in CTC are generally regarded as safe by  
7       the FDA and have not been linked to unusual health  
8       risks or unintended consequences. Additionally, as  
9       shown in the large cardiovascular outcomes trial,  
10      the PRECISION trial, celecoxib is not associated  
11      with increased risks relative to ibuprofen or  
12      naproxen.

13              The third benefit-risk domain is the  
14      effectiveness and safety of the new drug relative  
15      to approved analgesics. We have already discussed  
16      that CTC provided greater efficacy than its  
17      individual APIs. A review of the literature  
18      provides further insight.

19              The sponsor conducted a systematic review to  
20      identify studies of Schedule II opioids that were  
21      similar in design to their own phase 3 study. This  
22      was done to ensure that reasonable comparisons

1       could be made. The review was restricted to  
2       randomized trials that used the same pain model,  
3       the same primary endpoint, the same 12-hour dosing  
4       schedule, and the same comparisons versus placebo.

5               This search yielded to similar randomized  
6       trials of Schedule II opioids, and it is noteworthy  
7       that this study had similar baseline demographics  
8       in terms of age, sex, race, and BMI, as well as  
9       similar baseline pain intensity scores to the phase  
10      3 CTC study. Although historical analysis results  
11      need to be interpreted with caution, the fact that  
12      these studies had similar designs and patient  
13      populations suggest that it is reasonable to  
14      compare the results in order to gain insight into  
15      relative efficacy.

16             The two trials of Schedule II drugs  
17      evaluated either oxycodone or hydrocodone  
18      combinations with acetaminophen. Both drugs had  
19      immediate- and extended-release features and were  
20      administered every 12 hours, the same as CTC. The  
21      treatment effects on the primary endpoint for SPID  
22      through 48 hours are plotted here. Both

1 Schedule II drugs were efficacious, as you can see.  
2 The treatment effect for CTC in Study 301 was  
3 similar.

4 It is also worth noting that more than  
5 three-quarters of patients in each of the active  
6 treatment groups received rescue medication during  
7 the treatment period. The relatively high rates of  
8 rescue medications used in this study requires some  
9 discussion. These rates of rescue would be  
10 considered high if these were effectiveness trials,  
11 but it's important to recognize that these are  
12 efficacy trials, which are required for regulatory  
13 approval, as was pointed out by Dr. Gascon.

14 Efficacy trials do not fully reflect  
15 clinical practice because of the design  
16 requirements. For example, in the CTC phase 3  
17 study, all background analgesia was removed from  
18 the patients the morning after surgery, and  
19 patients were only randomized once their pain  
20 scores increased to a moderate or severe level.  
21 This is not the way we practice in daily activities  
22 at the hospital.

1           The goal of efficacy studies is to isolate  
2           the analgesic effects of each of the study drugs.  
3           This is inconsistent with the principles of  
4           multimodal analgesia; that is treating patients  
5           with multiple analgesics to take advantage of  
6           multiple target receptors both at the peripheral  
7           and the central level, with the goal to, number  
8           one, provide improved analgesia and, number two,  
9           decreasing the incidence of side effects.

10           Therefore, it is expected that the rates of  
11           rescue breakthrough medications in efficacy trials  
12           be much higher than in clinical practice. Thus,  
13           cross-study comparisons suggest that the analgesic  
14           treatment effect of CTC was similar to the  
15           Schedule II combination products with regard to  
16           SPID and rescue medication use.

17           Regarding public health effects, CTC  
18           contains tramadol, a Schedule IV opioid with an  
19           inherently low potential for abuse and dependence.  
20           Therefore, it is reasonable to expect that CDC will  
21           provide a public health benefit if it was  
22           prescribed in place of higher scheduled opioids for

1 appropriate patients.

2 The final domain is risk management. If  
3 approved, CTC will be included in the class-wide  
4 opioid REMS. This REMS require that training is  
5 made available to all healthcare providers through  
6 unrestricted grants destined to fund continuing  
7 medical education and other elements to assure safe  
8 use. Pharmacovigilance is another essential risk  
9 management tool, which will be addressed by the  
10 sponsor should CTC be approved.

11 Finally, I would like to briefly discuss my  
12 views on how CTC should be used in clinical  
13 practice. As addressed by Dr. Viscusi, treating  
14 physicians are caught in a dilemma. We all want to  
15 reduce unnecessary opioid use. We are aware of the  
16 problem that we have been generating, but we also  
17 want to provide appropriate treatments for our  
18 patients. The sponsor's clinical studies have  
19 shown that CTC will be a viable treatment  
20 alternative to Schedule II opioids for many  
21 patients.

22 Today, tramadol is often overlooked in acute

1 pain management because of the perception that it  
2 cannot provide sufficient pain relief. That is why  
3 we see such high rates of prescribing oxycodone and  
4 hydrocodone as a reflexive action by discharging  
5 physicians after surgery.

6 CTC will be a new therapeutic alternative  
7 that provides more pain relief than tramadol with  
8 better tolerability and a comparable safety  
9 profile. Therefore, CTC will be a valuable  
10 treatment option for physicians to consider that  
11 would reduce the exposure of patients and the  
12 community to riskier Schedule II opioids. Thank  
13 you for allowing me to share my perspective, and I  
14 turn the lectern back to the sponsor. Thank you.

#### 15 **Clarifying Questions**

16 DR. LITMAN: Thank you, Carlos.

17 Are there any clarifying questions for  
18 Esteve? Please remember to state your name for the  
19 record before you speak. If you can, please direct  
20 questions to a specific presenter, and I'll remind  
21 everyone if you have a question, put your name tag  
22 on its end, and we will try and get you guys in

1 order and call on you one by one.

2 Dr. Michna?

3 DR. MICHNA: Hello. I have to say I'm not a  
4 big fan of combination drugs being a former  
5 pharmacist. I think it complicates things, but I  
6 just had a few questions. What is going to be  
7 recommended to do for breakthrough? Are there  
8 going to be any recommendations?

9 DR. PLATA-SALAMAN: The treatment of any  
10 breakthrough pain will be based on the judgment of  
11 the individual physicians based on the  
12 characteristics of patient. In this regard, I will  
13 ask Dr. Leon to please explain how he would use CTC  
14 in the context of breakthrough pain and additional  
15 medication that the patients may need.

16 DR. DE LEON-CASASOLA: [Inaudible - off  
17 mic].

18 DR. LITMAN: Is the microphone on?

19 DR. DE LEON-CASASOLA: Now it is. His magic  
20 touch.

21 (Laughter.)

22 DR. DE LEON-CASASOLA: As it was alluded, it

1 is about individualized care. You are right,  
2 Dr. Michna. It's been hard to address how we  
3 manage medications that have two drugs included in  
4 the presentation, but this is what physicians are  
5 used to doing now with the availability of  
6 hydrocodone/acetaminophen and  
7 oxycodone/acetaminophen; so I think that will not  
8 be a big barrier.

9           Regarding the treatment for breakthrough  
10 pain in these individuals, I also believe that now  
11 the standard of care, based on multimodal  
12 approaches, will be that these patients receive  
13 acetaminophen on a scheduled treatment, around the  
14 clock, and if pain then appears, then an evaluation  
15 will take place to try to determine what is the  
16 source of the pain. So it will not be a set  
17 protocol to treat these patients. I think that  
18 this is critical.

19           DR. MICHNA: So what if the patient's at  
20 home? Now, do I give them an oxycodone script with  
21 this? How is that helping the situation?

22           DR. DE LEON-CASASOLA: No, I don't think

1 that that will be the appropriate --

2 DR. MICHNA: -- and what's going to prevent  
3 my patient from taking -- after an hour with your  
4 delayed onset, from re-dosing with the same drug?

5 DR. DE LEON-CASASOLA: This will be part of  
6 the education. Now, with the state regulations  
7 that mandate that patients go home -- with anywhere  
8 from 3, in the case of the state of Florida, to  
9 7 days in the case of the states of New York and  
10 Massachusetts where you practice -- this will  
11 actually limit practices of increasing doses, what  
12 is called uncensored increases.

13 But I think that --

14 DR. MICHNA: You know, Oscar, come on, when  
15 patients go home, they're going to be doing  
16 everything. So what happens when you double or  
17 triple the dose? What kind of added risk here?  
18 We're having higher doses of celecoxib there on top  
19 of it, and you do have a little delayed onset with  
20 your first dose, right? The onset time to peak  
21 effect is a little delayed, and as a patient, if  
22 I'm exhibiting severe pain, and I'm waiting, and

1 it's an hour, the natural tendency is to take  
2 another.

3 DR. PLATA-SALAMAN: The --

4 DR. DE LEON-CASASOLA: I'm sorry, Dr. Plata.  
5 Go ahead.

6 DR. PLATA-SALAMAN: Related or what happens  
7 when you increase the dose, as presented, CTC  
8 contains a dosage that is less than half of the  
9 individual components. We have not tested more  
10 than 200 milligrams in the case of CTC twice a day.  
11 So in the case of CTC, it contains 176 milligrams  
12 of tramadol, which corresponds to 17.6 MME below  
13 the CTC threshold and 224 milligrams of celecoxib.

14 We have a model that if a patient goes  
15 against the label, in our proposed label, we  
16 specify that the dose and regimen of CTC should be  
17 200 milligrams twice a day for the indication of  
18 acute pain for patients with pain severe enough to  
19 require an opioid. If a patient goes against the  
20 label, we have a model, the pharmacokinetics, based  
21 on all the information available, including what  
22 are the doses approved of tramadol.

1           What you can see in this figure is the  
2 tramadol 100 milligrams 4 times a day, which is the  
3 red line, corresponds to the approved maximum dose  
4 of tramadol per label. If a patient goes against  
5 the label and would take CTC 3 times a day or  
6 4 times a day, the tramadol exposure is still  
7 within the range of the approved label of tramadol.  
8 In the case of celecoxib, it is the same thing.  
9 Here in pink, you can see the modeling of the  
10 exposure of celecoxib 200 milligrams twice a day.  
11 Again, if a patient would take twice or 3 times a  
12 day, it will still be within the range.

13           Similar results in the pharmacokinetic  
14 modeling were observed. If a patient will take  
15 400 milligrams of CTC instead of the 200 milligrams  
16 per label, in all cases, the exposure of tramadol  
17 and celecoxib is expected to be within the range of  
18 the approval labels of the individual components.

19           DR. MICHNA: I don't think you've answered  
20 my question, but I'm going to go on. I'm still  
21 concerned about what we're going to do for  
22 breakthrough pain.

1           Can we go to slide CO-56? This might be a  
2 question for both you and the FDA, but what I'm  
3 concerned about is that you have the placebo dose  
4 in between, so we have the placebo effect of that  
5 dose. I'm not sure how this is going to mirror the  
6 real clinical situations when patients have to wait  
7 12 hours to take something.

8           These patients were dosed every 6 hours,  
9 correct? So there's a placebo effect of taking  
10 that pill, whether they knew it was placebo or not,  
11 or suspected it. And you can see, you kind of get  
12 a downturn on the first thing, so there is some  
13 kind of response there. Do we think this  
14 scheduling, which I can't understand why it was  
15 done, could have a beneficial effect to your  
16 therapeutics?

17           DR. DE LEON-CASASOLA: You are completely  
18 correct. The design of the study was based on what  
19 was required for a liquid [indiscernible],  
20 weight-controlled study, with the doses and  
21 regimen. In this case, the regimen was based on  
22 the fact that tramadol alone needed to be

1 administered every 6 hours according to the label,  
2 50 milligrams every 6 hours, which is an adequate  
3 dose for acute pain by label, and also it is used  
4 in the clinical practice.

5 Dr. de Leon did comment about the difference  
6 that exists of the approach used in the clinical  
7 trials compared to the real-world clinical  
8 practice. I would like Dr. de Leon to comment on  
9 this particular point regarding the question that  
10 you have on the regimen and opioid rescue.

11 DR. DE LEON-CASASOLA: I'm going to try to  
12 address your concern with breakthrough pain. In  
13 January of this year, we published a trial that  
14 demonstrated that contrary to what we have been  
15 thinking, and that is that opioids are needed in  
16 the postoperative period once patients are  
17 discharged, that this is not the case. After major  
18 cancer surgery, GYN, upper GI, and lower GI  
19 individuals, 90 percent of the cases received only  
20 acetaminophen and ibuprofen, and 85 percent of the  
21 cases were satisfied.

22 So it is about changing the setup that we

1 have that patients necessarily need opioids for  
2 breakthrough pain in the postoperative period. I  
3 believe, based on that trial, that this is not the  
4 case. I strongly believe that if a patient is  
5 treated within an effectiveness design, where they  
6 will receive this medication early enough, perhaps  
7 in the recovery room, then they go home with  
8 acetaminophen administered every 6 hours around the  
9 clock, this will be enough.

10 We give very little credit to acetaminophen,  
11 but there is very good data from the Cochrane  
12 studies that show that the current doses of 500 to  
13 1000 milligrams could be as effective as  
14 Schedule II opioids in the postoperative period.  
15 We have decided to ignore that because it's very  
16 difficult to teach new tricks to old dogs, but that  
17 is the data there.

18 DR. MICHNA: Just one final question.  
19 Celecoxib is usually thought to be kind of a weak  
20 NSAID of all the NSAIDs. I was wondering why you  
21 picked that particular one since there was  
22 some -- the IV was dropped because I think it was

1 not as effective as they thought it was going to be  
2 because it was kind of a weak NSAID. Any response  
3 to that?

4 DR. PLATA-SALAMAN: We did thousands of  
5 studies with different molecules in different  
6 conditions. The only co-crystal that fit all the  
7 criteria, as was presented, was this co-crystal.  
8 It happens that it fulfills the full criteria of  
9 multimodal analgesia with celecoxib because this is  
10 the tramadol product that will have the  
11 anti-inflammatory component with different  
12 mechanism of actions.

13 The clinical results clearly show that both  
14 contribute to the efficacy, both tramadol and  
15 celecoxib, at the low dosages, which is a very key  
16 aspect in the multimodal analgesia for responsible  
17 pain management. Because it contains the lower  
18 amount of tramadol, which is another requirement of  
19 multimodal analgesia, to use a less amount of  
20 tramadol, in this case, less than the CTC threshold  
21 for relative low opioid dosage, we see that CTC is  
22 an alternative for the Schedule II opioids,

1 combining the analgesic with the anti-inflammatory  
2 effect that celecoxib provides. The co-crystal was  
3 defined by the chemistry of CTC.

4 DR. MICHNA: I'm sorry. One other thing.  
5 Are there going to be any warnings about  
6 concomitant use with antidepressants?

7 DR. PLATA-SALAMAN: We will use most  
8 conservative labeling, so the answer is yes because  
9 we will use most conservative labeling in CTC based  
10 on the labels of the individual components.

11 DR. MICHNA: Thank you.

12 DR. LITMAN: Just to remind you, in the  
13 interest of time, please stick to very specific  
14 clarifying questions.

15 Dr. McCann?

16 DR. McCANN: Hi. Mary Ellen McCann. This  
17 is for Dr. Viscusi. If you'll go to slide 20, I  
18 think in your presentation, you said the risks of  
19 abuse of tramadol are fairly low. They are, but  
20 they're still about one-third that of oxycodone.  
21 If you look at the percentage -- well, if you look  
22 at the absolute rate of injection abuse, for

1 tramadol, it's about the same as hydrocodone; and  
2 if you look at it as a percentage, actually a  
3 higher percentage of tramadol is IV abused than  
4 either hydrocodone or oxycodone.

5 So do you have any safety information about  
6 celecoxib in particular being injected?

7 DR. PLATA-SALAMAN: We don't have safety  
8 information. Celecoxib is known to not have abuse  
9 potential.

10 DR. McCANN: But in this case, it will be  
11 abused because they'll take CTC and presumably  
12 inject it.

13 DR. PLATA-SALAMAN: As you can see here, the  
14 hydrocodone lower rates are actually based on the  
15 fact that this is a hydrocodone combination. We  
16 don't have data in this regard, but I would like  
17 Dr. Richard Dart to actually explain his context  
18 about these results shown here.

19 DR. DART: Thank you. Rick Dart from the  
20 RADARS system, and please forgive me if I cough. I  
21 won't be shaking anybody's hands today. The  
22 hydrocodone is 27 in this case because hydrocodone

1 is not desirable for injection. Almost all the  
2 product that's actually sold commercially has  
3 acetaminophen in it, which makes it difficult to  
4 prepare for injection. So I would portray it as  
5 tramadol is as low as hydrocodone because  
6 hydrocodone is not a popular drug.

7 DR. McCANN: But it's still half of  
8 oxycodone. I mean, there's still a number there  
9 that's significant.

10 DR. DART: There is a number; I totally  
11 agree. If a patient were to take -- say double the  
12 dose of CTC and inject it, we don't have any  
13 information studying that. But knowing celecoxib,  
14 I wouldn't expect that to produce toxicity of that  
15 dose.

16 DR. McCANN: Yes. I think it would be  
17 helpful, at least for me, if there was even animal  
18 data demonstrating that injecting high doses of  
19 celecoxib is not causing renal damage or anything  
20 else. Thank you.

21 DR. LITMAN: Thank you. Just while we're on  
22 the subject, I wanted to interject there. I would

1        imagine the FDA knows it. There was a study from  
2        the British Medical Journal last May. The Mayo  
3        Clinic did a large database analysis of persistent  
4        opioid use after surgery, and tramadol was the same  
5        as oxycodone and hydrocodone.

6                    Dr. McAuliffe, please?

7                    DR. MCAULIFFE: Hi. I want to go to your  
8        briefing document. You didn't talk about the  
9        subgroup analysis, the SPID data, but in looking at  
10       this -- well, looking at the sample, they were  
11       mostly white young women having bunionectomies, but  
12       looking at the data here, you have some males, some  
13       elderly, and some BMIs over 30.

14                   They seem to fall outside of the SPID  
15       efficiencies. The older people seem to have -- and  
16       we know this. I think we have to scale down  
17       tramadol with elderly people, and this is less of a  
18       flexible drug to be able to do that with. But  
19       elderly people seem to have a much bigger effect,  
20       males have a much less effect, and the heavier  
21       patients more of an effect.

22                   Are these going to be -- are you going to

1       advise that they are prescribed differently for  
2       these populations?

3               DR. PLATA-SALAMAN: No. As indicated in  
4       this data set, we can see that there is a  
5       significant overlap of the confidence intervals.  
6       As shown, we don't see any major difference related  
7       to a potential differential effect of CTC in these  
8       two groups, because, as you can see in BMI, both  
9       groups, there was efficacy. There was more  
10      efficacy in one of the groups. We cannot explain  
11      the reason for this, whether the BMI subjects were  
12      heavier. It could be just a finding by chance  
13      because we didn't analyze with the multiplicity of  
14      the data.

15             As it relates to the age, because of the  
16      type of pain model, as you can see, there is only a  
17      small number of elderly subjects more than 65 years  
18      of age. As it relates to this age, we identify  
19      that there is a significant overlap of the  
20      confidence interval. Adverse event profile is very  
21      similar compared to tramadol. Also, compared to  
22      later than 65 years of age, you have here the

1 results, CTC in blue, tramadol in red, later than  
2 65 years of age, very similar safety.

3 On the right, also for objects more than  
4 65 years of age, very similar. As it relates to  
5 the restrictions of dosing in the label for the  
6 elderly more than 75 years of age, the label  
7 specifies restrictions of 300 milligrams per day.  
8 CTC contains 176 milligrams. We are in full  
9 agreement with the analysis of the FDA, too, that  
10 in relation to the race difference and gender, the  
11 subgroup analysis did not show any specific trend.

12 So our conclusion is that we don't see a  
13 particular reason why CTC will have differential  
14 effects or different effects based on age, gender,  
15 baseline pain, and different ethnicities. We will  
16 follow the recommendations in the labeling, most  
17 conservative recommendations in the labeling, based  
18 on the individual components for the indication we  
19 propose for pain, for patients that have pain  
20 severe enough that require an opioid analgesic.

21 DR. McCANN: Thank you. But it does show a  
22 double-treatment effect for the elderly, minus 122,

1 minus 61. Thank you.

2 DR. PLATA-SALAMAN: Yes. With the number of  
3 patients that we have -- and again, the label will  
4 specify the dosing per the restrictions that exist  
5 currently in the tramadol labeling.

6 DR. LITMAN: Dr. Hernandez-Diaz?

7 DR. HERNANDEZ-DIAZ: A clarification for  
8 Dr. de Leon. Could you please expand on your  
9 comment regarding the efficacy versus  
10 effectiveness, and why do you expect the  
11 effectiveness to vary? It seems to me that if this  
12 is going to be a second option, alternative option  
13 if something else, acetaminophen or NSAIDs are not  
14 working, that you are also going to have in  
15 clinical practice the patients that are still at  
16 higher risk of course.

17 DR. DE LEON-CASASOLA: Sure, and thank you  
18 very much for asking. This is a critical issue  
19 because efficacy studies do not resemble the type  
20 of clinical activities that we implement in  
21 patients. For instance, in this particular study,  
22 the original anesthesia block was stopped, and the

1 patients were allowed to have an increase in their  
2 pain, which we know now creates a problem with  
3 peripheral sensitization, central sensitization,  
4 that may occur very shortly after that. We know  
5 that that translates in a higher consumption of  
6 analgesics in the postoperative period.

7 The concept now is to implement techniques  
8 that will prevent the conduction and transmission  
9 of pain prior to it occurring, and that is called  
10 preemptive analgesia with medications given prior  
11 to surgery, implementing technologies and  
12 treatments that will limit the bombardment of  
13 nociceptive impulses going to the central nervous  
14 system and then maintaining this level.

15 This is why an efficacy study will actually  
16 load up the potential for more breakthrough  
17 utilization and the potential for more pain than an  
18 effectiveness study, where you would prevent all  
19 these issues as such, then limit the amount of pain  
20 that they will have and the amount of breakthrough  
21 medication that will potentially be needed.

22 DR. LITMAN: Dr. Meisel?

1 DR. MEISEL: Steve Meisel. Three brief  
2 questions. First, slide 40, would you put that up,  
3 please? Could you explain to me why you don't have  
4 a column here for celecoxib? You have the CTC, you  
5 have the tramadol, you have placebo, but you don't  
6 have an adverse effect rate for the celecoxib  
7 alone.

8 DR. PLATA-SALAMAN: This study was done in  
9 Europe, and celecoxib is not approved for acute  
10 pain in Europe.

11 DR. MEISEL: No, but it just seems -- okay.  
12 Slide 43, we have a comparison here of CTC with  
13 tramadol alone, with celecoxib alone, and with  
14 placebo. Why don't we have an arm that has the  
15 combination of individual ingredients of tramadol  
16 and celecoxib? That's what you're really marketing  
17 this against, is the individual ingredients that  
18 would otherwise be given together versus a  
19 combination product, but you don't have that  
20 comparison.

21 DR. PLATA-SALAMAN: We could have had a  
22 combination there, but based on the conclusions of

1 all the crossover design studies that identify the  
2 effects of individual drugs, we concluded that the  
3 combination was not going to be safe or effective  
4 because the replicated profile of pharmacokinetics  
5 for celecoxib clearly chose impaired absorption of  
6 celecoxib with a combination. These were  
7 replicated in all these studies in the United  
8 States, outside of the United States, and also  
9 using different reference products, American and  
10 European reference products.

11 As well, the results where replicated  
12 clearly showed that the CTC tramadol profile  
13 clearly differentiated from the combination  
14 approach, which is indicated here, from tramadol of  
15 the combination approach, the black line, and that  
16 this profile of the reduction of Cmax and longer  
17 Tmax is fully consistent with the reduction of  
18 opioid-associated adverse events that we see with  
19 CTC compared to the combination.

20 This also is consistent with the tramadol  
21 label, which specifies that that there is a  
22 relationship between the increased plasma

1 concentrations of tramadol and the increased  
2 frequency of opioid-associated adverse events.

3 Therefore, taking into consideration all the  
4 information and data we have with the single and  
5 multiple dose and crossover design studies that did  
6 not have confounding factors, we decided not to  
7 include the combination in our phase 3 study.

8 DR. MEISEL: Well, that's your real  
9 marketing niche here is to say -- because people  
10 are using these two drugs in combination, and the  
11 unanswered question here is what's the real outcome  
12 versus real life, so let's move on.

13 Slide 51, briefly. You made the point here  
14 that CTC is better than tramadol and celecoxib, but  
15 I just want to clarify here, they're all better  
16 than placebo --

17 DR. PLATA-SALAMAN: Yes.

18 DR. MEISEL: -- but the confidence limits  
19 overlap here, and there is no statistical  
20 difference between CTC, tramadol, and celecoxib in  
21 this outcome. Is that correct?

22 DR. PLATA-SALAMAN: The primary endpoint was

1 met. What is here is shown the placebo-adjusted  
2 SPID, 0 to 48 hours. The primary endpoint was met  
3 because CTC by itself was significantly different  
4 to tramadol, to celecoxib, and to placebo.

5 Can I have slide CO-50, please? The primary  
6 endpoint, this is the primary without the placebo  
7 subtracted effect. At the bottom, the p-value  
8 difference, so CTC for tramadol, then for celecoxib  
9 and for placebo. So it differentiates from all  
10 three treatments.

11 DR. MEISEL: Okay. Slide 50 shows an  
12 overlap of confidence limits. Okay. Thank you.

13 DR. LITMAN: I apologize to those panelists  
14 that still had questions. We're running out of  
15 time, and we're going to hope to make up for it  
16 later.

17 Dr. Horrow, do you have a short question, a  
18 clarifying question?

19 DR. HORROW: I had a clarifying question on  
20 the posology of 200 milligrams twice a day. It has  
21 to do with slides number 36 and 38. The variable  
22 of SPID, 0 to 8 and 0 to 12, it could be that it is

1 statistically significant at 0 to 12 only because  
2 it includes the interval from 0 to 8, and there is  
3 no difference at 12 hours.

4 The clarifying question I have is do you  
5 have and can you show data from the 12-hour time  
6 period alone that indicates that, in fact, at 12  
7 hours there is still a difference or are we just  
8 looking at a carryover effect from 0 to 8?

9 DR. PLATA-SALAMAN: This was a single-dose  
10 study that allowed us to choose the 200-milligram  
11 dose because it provided the maximum efficacy at  
12 closely 4 doses. In the phase 3 study, the 301  
13 study, where it was administered every 12 hours for  
14 48 hours, if we do the analysis of this SPID, 0 to  
15 12, that also shows a statistically significant  
16 difference of CTC through all other three groups.

17 Based on the sufficiency or analgesia, with  
18 the fact that there was no need for additional  
19 oxycodone rescue medication toward the end of the  
20 12-hour interval, the dose of 100 milligrams every  
21 12 hours, it is adequate for the benefit of  
22 patients. Also, considering the dose of tramadol

1 that we use in this study, 50 milligrams 4 times a  
2 day, it is an adequate dose per label used for  
3 acute pain, and it is commonly used in clinical  
4 practice.

5 DR. LITMAN: Thank you. I apologize for the  
6 panelists that didn't get to ask questions. We  
7 will try and make up for it later on. I'm keeping  
8 your names here, so please keep your questions.

9 Now we're going to go to the FDA for their  
10 presentations, please.

11 **FDA Presentation - Saranrat Wittayanukorn**

12 DR. WITTAYANUKORN: Good morning. My name  
13 is Saranrat Wittayanukorn. I am the epidemiologist  
14 in the Division of Epidemiology. Today I will be  
15 presenting recent data on the use, misuse, abuse,  
16 and overdose of tramadol and comparator opioid  
17 analgesics.

18 The FDA draft guidance for the industry of  
19 opioid analgesic drug consideration for the  
20 benefit-risk assessment framework notes that FDA  
21 also considers the broader public health effects of  
22 opioid analgesic drugs. This involves

1 consideration of the risks related to misuse,  
2 abuse, opioid-use disorder, accidental exposure,  
3 and overdose for both patients and others.

4 The objective of this presentation is to  
5 inform the advisory committees regarding the public  
6 health risk-benefit of tramadol-containing product  
7 approval by first depicting patterns in the  
8 utilization of tramadol products and comparator  
9 opioid analgesics; and second, presenting  
10 epidemiologic data on the misuse, abuse, and  
11 overdose involving tramadol products and comparator  
12 opioid analgesics.

13 I will start with the use of tramadol and  
14 comparator opioid analgesics. For prescription  
15 utilization data, we used a database that measures  
16 the dispensing of prescription from outpatient  
17 retail pharmacies to patients based on transactions  
18 from a robust sample of retail pharmacies. Data  
19 are projected to provide national estimates of drug  
20 utilization. We ran these data for tramadol and  
21 commonly prescribed opioid analgesics, including  
22 hydrocodone, oxycodone, morphine, and codeine.

1           Since 2013, single-entity tramadol has been  
2           the second most dispensed opioid analgesic, and  
3           it's percentage among opioid prescription has  
4           increased. The figure shows the nationally  
5           estimated number of dispensed prescriptions for  
6           opioid analgesics from U.S. outpatient retail  
7           pharmacies, from 2009 to 2018.

8           Looking at the gray bars, we see that total  
9           opioid analgesics prescription peak at 2012, then  
10          decline. Overall, more than 95 percent of tramadol  
11          prescriptions was single-entity tramadol  
12          immediate-release or IR. The number of dispensed  
13          single-entity tramadol prescriptions gradually  
14          declined after the rescheduling in 2014, however,  
15          data showed that single-entity tramadol continued  
16          to be the second most dispensed opioid analgesic  
17          after the combination of hydrocodone and  
18          acetaminophen.

19          We also noticed an increasing trend in  
20          tramadol as a percentage of total opioid analgesic  
21          prescriptions, and you can see the percentages in  
22          the blue box above the blue line. In 2012, it is

1 around 14 percent, and in 2018, it is around 19  
2 percent. Also, I would like to note that we will  
3 keep the colors consistent throughout the  
4 presentation, and the blue color refers to  
5 tramadol.

6 Before we go over data sources on misuse and  
7 abuse, here are the definitions of misuse and abuse  
8 used in this presentation, which are consistent  
9 with what the FDA has previously used in its  
10 guidance to industry.

11 Misuse is defined by the FDA as intentional  
12 use for therapeutic purposes of a drug by an  
13 individual in a way other than prescribed by a  
14 healthcare provider or for whom it was not  
15 prescribed. Some examples are using more than  
16 prescribed, using more often than prescribed, and  
17 using someone else's medication for pain or for  
18 sleep.

19 Abuse is defined by the FDA as intentional,  
20 non-therapeutic use of a drug product or substance,  
21 even once, for desirable psychological or  
22 physiological effects. Abuse includes use to get

1 high. Also, non-medical use, this is not the  
2 regulatory definition used by the FDA, but it is  
3 used in some data sources and incorporates misuse  
4 and abuse as defined here.

5 Epidemiologic data sources on misuse and  
6 abuse focuses on a different characteristic of  
7 public health impact and also a different  
8 population. Therefore, we use a mosaic approach to  
9 consider these different characteristics and  
10 populations in a more informative assessment of the  
11 risk associated with misuse and abuse of tramadol  
12 and comparator opioid analgesics.

13 In the first column on the left are the  
14 characteristics that I will be presenting later in  
15 the following slides. For the scale of misuse and  
16 abuse, we rely on national representative  
17 surveillance system, and we also included  
18 vulnerable subpopulation in our assessment of  
19 frequency of misuse and abuse. We also looked at  
20 route of abuse because it can influence severity of  
21 medical outcomes.

22 Finally, we estimated morbidity and

1 mortality associated with misuse and abuse. We  
2 included 7 data sources from different populations,  
3 including the general population, individuals  
4 entering treatment for opioid-use and substance-use  
5 disorder, as well as people seeking care or advice.  
6 I will elaborate more about each data source and  
7 findings later in the presentation.

8 On to the scale and frequency of misuse and  
9 abuse; data from the general population showed that  
10 past-year misuse and abuse of opioid analgesics  
11 declined from 2015 through 2018. The speaker chose  
12 nationally representative estimates of the  
13 percentage of past-year misuse and abuse from the  
14 National Survey on Drug Use and Health.

15 The first cluster of bars showed there was a  
16 decline over time in misuse and abuse of any opioid  
17 analgesics, and I want to highlight that. It is  
18 consistent with tramadol and comparator opioid  
19 analgesics. As you can see, the percentages of any  
20 past-year misuse and abuse of tramadol among the  
21 U.S. population slightly decreased over time. For  
22 each comparator opioid analgesics, the percentages

1 also slightly decreased or remained stable. The  
2 most commonly misused and abused opioid analgesics  
3 were hydrocodone and oxycodone.

4 Similarly, a nationally representative study  
5 of U.S. high school seniors showed that across the  
6 study period, the percentages of high school  
7 seniors reporting past-year misuse and abuse of  
8 tramadol and comparator opioid analgesics appeared  
9 to decline or remained stable from 2014 to 2018.  
10 The data suggested that among high school seniors,  
11 the percentage of those who abused or misused  
12 tramadol products in the past year, as shown by the  
13 blue line, was generally lower than for comparator  
14 opioid analgesics.

15 The next data source, which is from people  
16 calling to our U.S. poison centers, showed that  
17 calls involving misuse or abuse of tramadol and  
18 comparator opioid analgesics declined from 2014 to  
19 2018. Across years, there were relatively more  
20 calls involving oxycodone and hydrocodone misuse or  
21 abuse, while there were fewer calls involving  
22 morphine and codeine.

1           Data from individuals evaluated for  
2           opioid-use disorder or substance-use disorder  
3           showed mixed results, and I would like to give a  
4           brief overview of the differences between the two  
5           data sources. Both data collection networks are  
6           nationwide. Data from NAVIPPRO ASI-MV on the left  
7           came from a heterogeneous population of people  
8           being assessed or treated for various substance-use  
9           disorders. Data from RADARS TCP on the right are  
10          data from people presenting with opioid-use  
11          disorder and collected data on codeine for just a  
12          part of the study period. This is why we didn't  
13          show codeine in the RADARS TCP finding.

14                 The results from NAVIPPRO ASI-MV on the left  
15                 showed a decreasing trend in past-month abuse of  
16                 tramadol and comparator opioid analgesics, however,  
17                 results from RADARS TCP on the right showed that  
18                 past-month abuse of tramadol increased, whereas  
19                 past-month abuse of comparator opioid analgesics  
20                 declined.

21                 It is unclear why tramadol abuse appears to  
22                 increase in RADARS TCP and more research and

1 confirmation are needed. One hypothesis is that  
2 RADARS TCP are from people with a more advanced  
3 opioid-use disorder compared with NAVIPPRO, which  
4 has a more heterogeneous population.

5 Another hypothesis is that tramadol may be  
6 relatively easier to get compared with Schedule II  
7 opioids, and it is also consistent with the finding  
8 from the drug utilization that I previously  
9 presented that showed an increase in tramadol as a  
10 percentage of total opioid analgesics  
11 prescriptions. However, the available data are  
12 insufficient to draw a conclusion about the mixed  
13 results.

14 On to the data on route of misuse and abuse,  
15 which is significant because it can influence the  
16 severity of medical outcomes, among calls to poison  
17 centers involving single-substance misuse or abuse,  
18 the oral route was the most commonly by far  
19 reported route of abuse of tramadol and comparator  
20 opioid analgesics.

21 Among individuals evaluated for opioid-use  
22 disorder or substance-use disorder, swallow whole

1 was the most commonly reported route of abuse of  
2 tramadol and most comparator opioid analgesics.  
3 The figure on the left shows data from NAVIPPRO  
4 ASM-MV and the figure on the right shows data from  
5 RADARS TCP.

6 In another analysis of this data,  
7 combination products tend to be injected less than  
8 single-entity products. Across two data sources  
9 and also consistent with data from poison center  
10 that I just previously presented, swallow whole was  
11 the most commonly reported route of abuse of  
12 tramadol and most comparator opioid analgesics,  
13 except for morphine.

14 In NAVIPPRO ASM-MV, injections, which are  
15 the green bars on the slide, was the most reported  
16 route of abuse of morphine. Note that the snorting  
17 and injecting routes were more common in this  
18 treatment center data than in poison center data in  
19 which the affected people did not necessarily have  
20 opioid- or substance-use disorder.

21 The final characteristics are estimates of  
22 morbidity and mortality associated with misuse and

1 abuse of tramadol and comparator opioid analgesics.  
2 Data from people calling to poison centers showed  
3 that, looking at the figure on the left, among  
4 calls involving misuse and abuse of tramadol as a  
5 single substance, related medical outcomes were  
6 categorized almost 50 percent of the time as having  
7 a moderate effect, which is defined in the textbox  
8 on the right as symptoms that were more prolonged  
9 or involved some treatment.

10 Other common categories were minor effects,  
11 which were less via severe medical outcomes and  
12 major effects. Similarly, on the right figure,  
13 finding from multiple substance exposure calls  
14 involving misuse and abuse of tramadol yields  
15 consistent results, except there were more deaths,  
16 which is the bar with 1.7 percent at top.

17 Here are nationally represented estimates of  
18 emergency department visits associated with  
19 nonmedical use of any prescription opioids.  
20 Looking at the pie chart on the left, the whole  
21 circle represents over 127,000 ED visits estimated  
22 annually, attributed to nonmedical use of any

1 prescription opioids from 2016 to 2017, and the  
2 dark portion represents tramadol and comparator  
3 opioids. These are further broken down into the  
4 stacked bar in the middle of the slide and is also  
5 further described by the figure on the right.

6 As you can see from the figure on the right,  
7 among ED visits involving nonmedical use of any  
8 prescription opioids, tramadol was relatively less  
9 common than hydrocodone, oxycodone, and morphine,  
10 but relatively more common than codeine. These are  
11 annual national estimates of opioid overdoses by  
12 opioid substance mentioned in the literal text of  
13 the death certificate.

14 Between 2011 to 2017, tramadol was less  
15 common than comparator opioid analgesics, and there  
16 was an increasing trend in tramadol-involved  
17 overdose deaths. We also see some increases in  
18 morphine and oxycodone over the study period with a  
19 decline in the most recent year of the data. But  
20 keep in mind that there are factors that may also  
21 have an impact on the number of deaths in this  
22 data, and I will briefly mention it in the few

1 slides.

2 To summarize, the recent trends in misuse,  
3 abuse, and overdose deaths across different data  
4 sources, most data sources suggest a down trend in  
5 tramadol misuse and abuse. Similarly for  
6 comparator opioid analgesics, misuse and abuse  
7 during the study period either remained stable or  
8 decreased. Of note, we saw some mixed results of  
9 tramadol abuse among people evaluated for  
10 opioid-use disorder or substance-use disorder.  
11 Also, data from death certificates suggest an  
12 increase in overdose death involving tramadol.

13 I want to briefly mention points to consider  
14 of data sources that showed an increase. For  
15 example, on the left, data from RADARS TCP are from  
16 people with more advanced opioid-use disorder and  
17 may not be generalizable to general population. On  
18 the right, data from drug involving mortality data,  
19 overdose deaths often involve multiple opioid  
20 substances such as fentanyl. Increased reporting  
21 over time from change in documentation may also  
22 have an impact on number of overdose deaths.

1           In summary, we can define the use, misuse,  
2           abuse, and overdose associated with tramadol and  
3           comparator opioid analgesics. The number of  
4           dispensed prescriptions of immediate-release  
5           tramadol single entity increased from 2009 to 2014,  
6           then gradually declined through 2018. However, the  
7           proportions of prescriptions dispensed for tramadol  
8           in relation to total opioid analgesic prescriptions  
9           increased during the study period, accounting for  
10          approximately 19 percent of total opioid analgesics  
11          in 2018.

12           In addition, across data sources, tramadol  
13          was less frequently implicated in prescription  
14          opioid misuse, abuse, and related morbidity and  
15          mortality than were hydrocodone and oxycodone.  
16          However, results were mixed for tramadol's position  
17          in relation to codeine and morphine.

18           Next, misuse and abuse of tramadol and  
19          comparator opioid analgesics have declined among  
20          general U.S. population in recent years, as  
21          observed in national surveys and in poison call  
22          center data, however, tramadol abuse may have

1 increased among people with advanced opioid-use  
2 disorder.

3 Finally, tramadol-involved overdose deaths  
4 increased from 2011 to 2017. The increases were  
5 also observed for oxycodone and morphine. However,  
6 other factors, including improved documentation as  
7 well as co-involvement of other substances, may be  
8 driving this observed trend. I will now conclude  
9 my presentation. Thank you.

#### 10 Clarifying Questions

11 DR. LITMAN: Thank you. We will now take  
12 clarifying questions for the FDA. As before, if  
13 you could put your name tag on its side, and we  
14 will call on you.

15 Dr. Suarez?

16 DR. SUAREZ-ALMAZOR: Thank you. I realize  
17 that it's difficult to separate abuse and misuse  
18 from some of this data, but most of the FDA  
19 presentation I think reflects really abuse, which  
20 we know is less with tramadol. However, one of the  
21 concerns that I think may happen with this drug,  
22 especially in the postop setting, is misuse with

1 increased dosage than that recommended, and that  
2 would be an issue with celecoxib, and that has not  
3 been mentioned at all by the FDA.

4 We know what has happened with liver failure  
5 with a combination of opioids with acetaminophen,  
6 and I wonder if misuse of this drug could lead to  
7 increased complications with GI bleeding or  
8 cardiovascular events in patients using this  
9 combination in the postop setting. But it has not  
10 been mentioned as a concern at all by the FDA, so I  
11 was wondering what your thoughts were about that.

12 DR. STAFFA: Hi. Judy Staffa from the  
13 Office of Surveillance and Epidemiology. You're  
14 right. This presentation really focused more on  
15 the public health misuse-abuse issue. I don't know  
16 that we have specifically looked into the issues  
17 you've raised in the clinical trial program of this  
18 product because, obviously, you wouldn't see the  
19 increased dosage. But since tramadol and celecoxib  
20 are marketed products, I think those would likely  
21 be known safety issues.

22 DR. LITMAN: Dr. Green?

1 DR. GREEN: Thank you. Traci Green. I had  
2 a question with respect to slide 13 and the  
3 contrast and disparity between the NAVIPPRO and the  
4 RADARS system on the rates. I was wondering if an  
5 analysis that considered either one or two that  
6 might look at -- the ASI asks for the primary drug  
7 of abuse and for that to be indicated by the  
8 patient; whether a subset that looks at the primary  
9 drug of abuse where it's an opioid could help  
10 inform and look at contrast here, and look to see  
11 if there are similarities in those rates of  
12 tramadol abuse reporting, or to think about the  
13 NAVIPPRO system, since it's a heterogeneous one, to  
14 subset those that are OTPs, or opioid treatment  
15 programs, so that you have a little more  
16 comparability between the two, and look to see if  
17 these rates do increase or decrease in that -- I'm  
18 wondering if that analysis had been done.

19 DR. WITTAYANUKORN: Thank you for your  
20 question. We haven't looked at that, but later on  
21 after this presentation, we will take a look at it.  
22 Thank you.

1 DR. LITMAN: Dr. Setoguchi Iwata?

2 DR. SETOGUCHI: Related to the morbidity-  
3 mortality data, I was wondering if you have any  
4 data that could relate to the route of abuse and  
5 misuse for tramadol, because I still see IV and  
6 snorting in that data.

7 DR. STAFFA: I'm sorry. Could you speak  
8 into the microphone? We had trouble hearing your  
9 question.

10 DR. SETOGUCHI: Okay. So my question is  
11 about the morbidity-mortality data. I see that  
12 even though the majority of the abuse and misuse is  
13 occurring through oral use, I still see IV and  
14 snorting of tramadol. I was wondering if you can  
15 relate this morbidity-mortality data to the route  
16 of misuse and abuse of tramadol.

17 DR. WITTAYANUKORN: Thank you for your  
18 question. Right now, it's beyond the scope of our  
19 review; we haven't looked at it. But as you may  
20 recall the data from NPDS, it also has routes of  
21 abuse and also has severity of medical outcomes.  
22 Later on, we can try to look and certify the

1 medical outcomes by route of abuse.

2 DR. SETOGUCHI: Thank you.

3 DR. WITTAYANUKORN: Thank you.

4 DR. LITMAN: Dr. Mehta?

5 DR. MEHTA: Hi. I was wondering if you  
6 could give any additional detail regarding -- I  
7 think when we were talking on slide 15, you made a  
8 comment that said that data shows that single  
9 ingredient abuse-misuse is greater than combination  
10 products, if I heard you correctly.

11 So I was just wondering if you could maybe  
12 clarify further, recognizing that the amount of  
13 prescriptions for tramadol-acetaminophen is  
14 substantially lower than single-ingredient  
15 tramadol, but comparatively speaking, how do the  
16 rates of misuse and abuse look within that category  
17 of tramadol, comparatively when it's a combination  
18 product.

19 DR. RADIN: Hi. I'm Rose Radin with the  
20 Division of Epidemiology. Yes, we referenced  
21 actually an analysis that our colleague Matthew  
22 Daubresse did for the AC. That is this afternoon

1 on oxycodone, where he looked at different routes  
2 of abuse of hydrocodone- and oxycodone-containing  
3 products. The results of his analysis show that  
4 for hydrocodone and oxycodone abuse, there was  
5 relatively more abuse by the IV route of  
6 single-entity products.

7 So we were sort of extrapolating from those  
8 observations, and that was actually in reference to  
9 Dr. McCann's earlier question of the sponsor about  
10 IV abuse of a combination product. So I hope that  
11 clarifies your question.

12 DR. LITMAN: Dr. Zeltzer?

13 DR. ZELTZER: Thanks. The surveys that were  
14 looked at were surveys of opioid use and misuse,  
15 but in real life there are often combinations used,  
16 opioids with benzodiazepines, for example, which  
17 increase risk or with alcohol. I'm just wondering  
18 with the morbidity-mortality, if there are any  
19 combination surveys that are looked at, for  
20 example, tramadol used in somebody who abuses  
21 alcohol or who's on benzodiazepines.

22 DR. WITTAYANUKORN: Moon, could you please

1 bring the backup slides number 28?. Maybe now it's  
2 29 or 27.

3 (Laughter.)

4 DR. WITTAYANUKORN: It's 28; sorry.

5 These figures, we looked at the  
6 multiple -- can you please advance to the next  
7 slide? Yes, okay, this is the slide that I'm going  
8 to talk about. On your question, yes, we did look  
9 at NPDS data and also looking at the concomitant of  
10 tramadol-involved other substances. As you can see  
11 on the slide, more than 25 percent of concomitant  
12 were prescription opioids, followed by  
13 benzodiazepines and alcohol.

14 DR. LITMAN: Dr. Horrow?

15 DR. HORROW: Thank you. I have a clarifying  
16 question, please, on the presentation of the  
17 summary in slide 23, please. That would be  
18 slide 23. Can we see slide 23? Thank you.

19 It says, "However, tramadol's percentage of  
20 total opioid analgesic prescriptions increased." I  
21 presume this is referencing slide 6. Could we see  
22 slide 6, please? Now, I noticed two things in

1 slide 6. The first is that the percentage of 18.7  
2 indeed is greater than 13.6, but is this not also  
3 accompanying a rather interesting decrease in  
4 hydrocodone-acetaminophen prescriptions? So  
5 wouldn't this be good, that instead of prescribing  
6 a combination code 2 drug we're prescribing what  
7 became a code 4 drug?

8 Secondly, because the total number of  
9 prescriptions are decreasing, even though the  
10 percentage may have gone up, what has happened to  
11 the total number of prescriptions for tramadol?  
12 That might have stayed the same. We don't know  
13 that from this graph.

14 So my clarification question is what is the  
15 intent of using the word "however" in the summary  
16 slide? What is the point the agency is trying to  
17 convey?

18 DR. STAFFA: This is Judy Staffa. I can try  
19 to answer that question. I think you're right.  
20 What we're trying to do is to point out that the  
21 total volume of prescriptions, which you see is  
22 relatively stable, because tramadol may be more

1 available than other opioids, the drops we see in  
2 prescription volume are largely because of drops in  
3 Schedule II prescriptions, recognizing that the  
4 choice of what product I might choose to abuse may  
5 be a combination of what gives me the best effect,  
6 but also what's most available to me.

7 I think the point we were making by the  
8 "however" is that although we do not see dramatic  
9 increases in prescription volume of tramadol, it  
10 may be more available than others because it's now  
11 representing a larger percentage of opioid on the  
12 market than it did in the past, even though it's  
13 certainly not the majority.

14 Does that make sense?

15 DR. HORROW: Thank you.

16 DR. LITMAN: I just have one hopefully quick  
17 question.

18 Judy, the combination drug thing, like Ed  
19 was saying before, is a little worrisome. Does the  
20 FDA have any signals, not particularly with this  
21 kind of a drug, but going back years when people  
22 started to mix NSAIDs with opioids, that there are

1 any signals of increased toxicity from the NSAIDs  
2 due to abuse or misuse?

3 DR. STAFFA: This is Judy Staffa. We  
4 obviously keep an eye on that, but I think the fact  
5 that the combination products -- particularly if  
6 you think about probably the most frequently  
7 prescribed opioids like hydrocodone, the  
8 combination with acetaminophen was the most  
9 commonly prescribed.

10 We don't see as many issues relating to the  
11 injection of the hydrocodone combined with  
12 ibuprofen, but that may be because of the lesser  
13 availability; it's not prescribed as widely as the  
14 acetaminophen. So we don't really know whether  
15 it's because there's not a problem or because we're  
16 just not seeing it because of the lesser market  
17 share.

18 DR. LITMAN: Thank you. We do have a couple  
19 minutes before break, and I'd like to get back to  
20 some clarifying questions for the sponsor.

21 Dr. Plata-Salaman, is that okay? I think  
22 Dr. Higgins has one question, and I know that other

1 people were waiting, and we'll try and get to you  
2 later on after the public session.

3 DR. HIGGINS: I think mine should be pretty  
4 quick. Jennifer Higgins. I was interested in  
5 learning more about the REMS preparation. There's  
6 mention of intending to have a REMS program, some  
7 healthcare provider education, and then like a  
8 consumer guide.

9 Can you provide any details about that and  
10 what your plans are?

11 DR. PLATA-SALAMAN: Yes, to monitor product  
12 use. In the case of CTC, we plan to enroll in the  
13 class-wide FDA analgesic REMS that includes making  
14 training available to healthcare professionals,  
15 including different opioid tools for facilitating  
16 identification of patients with certain  
17 characteristics; grants for continuing education;  
18 and other elements to assure safety use.

19 These will be complemented with the  
20 pharmacovigilance measures to also monitor product  
21 use, as well as other measures such as the tools of  
22 the RADARS and monitoring the database. This is a

1 tool, the REMS, from the FDA that we'll be involved  
2 with. Our approach will be that in using these  
3 tools, we will be able to monitor the product use  
4 for CTC.

5 As we explained, because CTC was designed  
6 originally to have significant improved efficacy to  
7 tramadol, because of the low dosages that it  
8 contains and the low MMEs, 17.6 MMEs, that could be  
9 an alternative option for Schedule II opioids  
10 within the indication that we are proposing, which  
11 is the same for tramadol, for the management of  
12 acute pain in others that is severe enough to  
13 require an opioid analgesic and for which  
14 alternative treatments are inadequate. Therefore  
15 the REMS program will be very helpful to monitor  
16 its appropriate use for the right patients.

17 DR. HIGGINS: I wonder about the tools that  
18 you're talking about. It sounds like you're using  
19 something that is already provided by the FDA, or  
20 is it something that you're developing and have  
21 pilot tested?

22 DR. PLATA-SALAMAN: It is based on the FDA

1       REMS program that is applied to opioid analgesics.

2               DR. LITMAN: Thank you. We will now take a  
3       15-minute. I apologize again to the other  
4       panelists who want to ask the sponsor questions,  
5       but we'll try our best to get you in a later.  
6       Panel members, please remember that there should be  
7       no discussion of the meeting topic during the break  
8       amongst yourselves or with any member of the  
9       audience. We will resume with the public session  
10      at 10:30 sharp.

11               (Whereupon, at 10:15 a.m., a recess was  
12      taken.)

13              DR. LITMAN: Welcome back. Before we start  
14      the public session, Dr. Staffa asked me to  
15      address -- the FDA has a point to address with the  
16      sponsor.

17              DR. STAFFA: Hi. Judy Staffa here. I just  
18      wanted to clarify something that came up in  
19      response to the last question from Ms. Higgins  
20      about the REMS. I just want it to be very clear  
21      that the committee understands, and I guess the  
22      sponsor as well, exactly what the REMS is. So I've

1 asked Somya Dunn from our Division of Risk  
2 Management, to just give a very concise summary, in  
3 a sentence or two, of what the opioid REMS is that  
4 this product would be joining.

5 DR. DUNN: Hi. I'm Somya Dunn from the  
6 Division of Risk Management. Just to clarify, the  
7 opioid analgesic REMS is a risk mitigation strategy  
8 that's designed to include all of the opioid  
9 products that are intended for the outpatient  
10 setting. This falls under that category, and  
11 that's why the requirement would be to join this  
12 REMS.

13 The main component of the REMS is an  
14 educational piece that's based on a blueprint that  
15 the FDA has worked on over the years and has also  
16 amended it over the years to try to keep up with  
17 the different components that we're trying to  
18 address in the opioid crisis.

19 Those educational pieces are aimed at all  
20 the healthcare providers that are involved in  
21 taking care of patients with pain and also is  
22 comprehensive of all different types of pain

1 management, from opioid analgesics to non-analgesic  
2 therapies, and those educational pieces are offered  
3 as CE that the companies are responsible for making  
4 available to providers. There's also a patient  
5 counseling guide that's also a part of the REMS.

6 DR. LITMAN: Did you have a clarifying  
7 question for the sponsor?

8 DR. STAFFA: No. This is Judy Staffa again.  
9 I just want it to be clear because I heard the word  
10 "monitoring" mentioned in the response to the  
11 question about the REMS, and I want it to be very  
12 clear that this particular REMS does not involve  
13 monitoring. If there is any kind of monitoring  
14 that would go on with this product, it would have  
15 to be through other mechanisms such as enhanced  
16 pharmacovigilance or postmarket required studies,  
17 et cetera.

18 DR. PLATA-SALAMAN: Thank you for your  
19 clarification. Yes, that's what I meant. When I  
20 commented on the pharmacovigilance tools and the  
21 databases for monitoring, monitoring product use.

22 DR. LITMAN: Jen, that's good?

1 DR. HIGGINS: I really appreciate that.  
2 That's very helpful. Thanks.

3 **Open Public Hearing**

4 DR. LITMAN: Okay. Let's start the public  
5 session.

6 Both the Food and Drug Administration and  
7 the public believe in a transparent process for  
8 information gathering and decision making. To  
9 ensure such transparency at the open public hearing  
10 session of the advisory committee meeting, FDA  
11 believes that is important to understand the  
12 context of an individual's presentation.

13 For this reason, FDA encourages you, the  
14 open public hearing speaker, at the beginning of  
15 your written or oral statement to advise the  
16 committee of any financial relationship that you  
17 may have with the sponsor, its product, and if  
18 known, its direct competitors.

19 For example, this financial information may  
20 include the sponsor's payment of your travel,  
21 lodging, or other expenses in connection with your  
22 attendance at this meeting. Likewise, FDA

1 encourages you at the beginning of your statement  
2 to advise the committee if you do not have any such  
3 financial relationships. If you choose not to  
4 address this issue of financial relationships at  
5 the beginning of your statement, it will not  
6 preclude you from speaking.

7 The FDA and this committee place great  
8 importance on the open public hearing process. The  
9 insights and comments provided can help the agency  
10 and this committee in their consideration of the  
11 issues before them. That said, in many instances  
12 and for many topics, there will be a variety of  
13 opinions. One of our goals today is for this open  
14 public hearing to be conducted in a fair and open  
15 way, where every participant is listened to  
16 carefully and treated with dignity, courtesy, and  
17 respect. Therefore, please speak only when  
18 recognized by the chair. Thank you for your  
19 cooperation.

20 Because we have a short meeting today and we  
21 need to get done by lunchtime, I'm going to ask  
22 that each public speaker is limited to 4 minutes.

1 Will speaker number 1 please step up to the podium  
2 and introduce yourself? Please state your name and  
3 any organization you're representing for the  
4 record.

5 MS. ZELDES: Good morning. Thank you for  
6 the opportunity to speak here today. My name is  
7 Nina Zeldes, and I'm here speaking on behalf of the  
8 National Center for Health Research, where I'm a  
9 senior fellow. Our research center analyzes  
10 scientific and medical data and provides objective  
11 health information to patients, providers, and  
12 policymakers. We do not accept funding from drug  
13 or medical device companies, so I have no conflicts  
14 of interest.

15 We have two major concerns about this drug  
16 and the study provided. In this factorial study,  
17 the combination drug is compared to a low dose of  
18 celecoxib, a non-addictive pain medication that  
19 doctors usually prescribe 400 milligram for the  
20 management of acute pain followed by an additional  
21 200 milligrams needed. In other words, there's  
22 absolutely no evidence that this combination drug

1 with an opioid is as effective or more effective  
2 than a standard dose of just the NSAID.

3 Since this combination drug contains an  
4 opioid, it should only be considered for approval  
5 if it's more effective than a non-opioid  
6 painkiller. Combination drugs should only be  
7 approved when they have clear benefits that  
8 outweigh the risks of either the drugs alone. We  
9 know that some surgeons, for example, prescribe  
10 both opioids and NSAIDs after surgery.

11 Now that we know how quickly patients can  
12 become addicted to opioids, all opioids should be  
13 stopped within a few days, if prescribed at all,  
14 whereas if necessary, NSAIDs could be continued for  
15 a longer period of time since they are not  
16 addictive. However, if patients are prescribed  
17 this combo drug instead of two separate drugs, not  
18 only have they been introduced to an opioid, but  
19 they can't easily just stop the opioid while still  
20 getting the benefits of a non-opioid painkiller.  
21 They would have to obtain a non-opioid option  
22 instead of just having to stop taking one of the

1 prescribed drugs.

2 In addition to the above problems, the  
3 generic celecoxib and tramadol are likely to be  
4 much less expensive than a newly-approved combo  
5 drug and only the new drug is likely to be heavily  
6 promoted. The cost of medication is not FDA's  
7 concern, but FDA has expressed an interest in  
8 facilitating savings by approving generic drugs  
9 more quickly, and this type of unprovable combo  
10 drug with potential risks of addiction, which could  
11 replace the same generic drugs taken separately, is  
12 exactly why medical costs are so high. Thank you  
13 for your time.

14 DR. LITMAN: Thank you.

15 Will speaker number 2 please step up to the  
16 podium and introduce yourself? Please state your  
17 name and any organization you are representing for  
18 the record.

19 DR. GOTTLIEB: Good morning. My name is  
20 Dr. Ira Gottlieb, and I'm here on my own time  
21 representing Chesapeake Research Group. I am a  
22 principal investigator and owner of that center,

1 and I've had 25 years of clinical trial experience.  
2 I was the principal investigator on the pivotal  
3 phase 3-301 trial. Again, I'm here on my own time,  
4 and I have not received any financial remuneration  
5 for my visit today, nor do I have any financial  
6 interest in Esteve.

7 Our research site, Chesapeake Research  
8 Group, focuses almost exclusively on clinical  
9 trials that relate to the treatment of acute pain,  
10 most often postoperative pain, experienced in the  
11 outpatient setting. The bunionectomy model is a  
12 very common model used in our trials.

13 As we find ourselves in the present day  
14 worldwide opioid crisis, my staff and I have been  
15 focused on the treatment of pain and finding safer  
16 and less addictive alternatives used in the  
17 treatment of pain, again, for the past 25 years.  
18 Certainly over this time, the landscape of drug  
19 development has certainly changed. My early work  
20 was primarily in the development of the COX-2  
21 selective nonsteroidal medications. They included  
22 Celebrex, Vioxx, and Bextra.

1           When the cardiovascular adverse events were  
2 identified in this class, the COX-2 development  
3 program came to an abrupt end; then the focus  
4 turned to narcotic reformulation and a change in  
5 the way the drug was delivered to provide a more  
6 steady-state continual dosing, eliminating the peak  
7 and trough related to the use of frequently dosed  
8 opioids. When the opioid crisis itself was  
9 identified, this pipeline, too, came to a  
10 screeching halt.

11           Next was the trend towards multimodal  
12 therapy using nonsteroidal medication: Tylenol,  
13 antiseizure medications, antidepressant  
14 medications, along with narcotics to hopefully  
15 reduce total narcotic use. Currently, the trend  
16 seems to be in the development and reformulation of  
17 local anesthetics to greatly prolong their effect.

18           As the principal investigator of the Esteve  
19 pivotal phase 3-301 study, I come to you today,  
20 again, on my own time and expense from nearby  
21 Pasadena, Maryland, where Chesapeake Research Group  
22 is located. I have been the principal investigator

1 on nearly 100 clinical trials, the vast majority of  
2 which focus on compounds being tested to treat  
3 acute and postoperative pain experienced in the  
4 outpatient setting. I greatly appreciate the  
5 opportunity to share my clinical experience and  
6 thoughts regarding this unique co-crystal compound  
7 developed by Esteve.

8 Each of the ingredients in CTC have been  
9 prescribed for many years, tramadol since 1977  
10 throughout Europe and later approved for use in the  
11 United States in 1995, and celecoxib was approved  
12 in 1998. Though there are two primary ingredients,  
13 tramadol has in and of itself three mechanisms of  
14 action: a mu-receptor agonist, a serotonin, and  
15 norepinephrine reuptake inhibitor cell; celecoxib,  
16 a specific COX-2 inhibitor, nonsteroidal anti-  
17 inflammatory medication.

18 Tramadol by itself is strongly hydrophilic,  
19 which when taken by itself is associated with a  
20 higher incidence of opioid-related adverse events.  
21 The CTC crystal slows this rate of absorption and  
22 should reduce the incidence of opioid-related

1 adverse events. Further, tramadol, it should be  
2 emphasized, is a Schedule IV narcotic and has a  
3 much lower abuse potential and is one of the least  
4 desirable narcotics by abusers seeking a euphoric  
5 effect.

6 Celecoxib by itself is extremely  
7 hydrophobic, a contributing factor to its  
8 reputation as not one of the stronger  
9 nonsteroidals. CTC actually increases this rate of  
10 absorption and should increase its effectiveness  
11 more quickly than the celecoxib alone. Further,  
12 its COX-2 specificity makes it a desirable choice  
13 of the numerous nonsteroidals on the market based  
14 on its relative favorable GI AE profile.

15 In closing, the union of these two unique  
16 and multifunction medications is, in my opinion, a  
17 desirable choice as a single, easily understood  
18 dosing regimen that would likely improve patient  
19 compliance as compared with being told to follow a  
20 regimen of three or four different medications.  
21 Finally, I greatly appreciate this opportunity  
22 you've given me to speak at today's meeting.

1 DR. LITMAN: Thank you.

2 Will speaker number 3 please step up to the  
3 podium and introduce yourself? Please state your  
4 name and any organization you are representing for  
5 the record.

6 DR. GREEN: Hi. My name is Dr. Jody Green.  
7 I'm the chief scientific officer at Inflexxion, an  
8 IBH company, and while my travel is being  
9 reimbursed by Esteve, I am here on my own time to  
10 share some insightful real-world data regarding  
11 nonmedical use of tramadol from our proprietary  
12 database, ASI-MV. These data from ASI-MV have  
13 already been presented in FDA's comments earlier,  
14 and I think they did a nice job of illustrating the  
15 importance of looking at these data relative to  
16 alternative therapies, relative to the other opioid  
17 products that are most often prescribed.

18 In addition and to bring that point home, we  
19 did our own analysis, which was data from 2015  
20 through 2019, and compared prevalence of the past  
21 30-day tramadol nonmedical use to oxycodone IR  
22 products and hydrocodone IR products. What we saw

1 was that tramadol nonmedical use is endorsed in  
2 about 2 out of every 100 assessments that are  
3 completed, while hydrocodone IR and oxycodone IR  
4 are endorsed in about 8 out of every 100  
5 assessments; so that's four-fold difference.

6 When we look at adjusting that for drug  
7 availability in terms of how much is dispensed at  
8 outpatient pharmacies, we see that difference  
9 increase to about a 17 to 19-fold difference in  
10 that oxycodone and hydrocodone IR nonmedical use,  
11 17 to 19 times higher than that of tramadol in that  
12 program.

13 Unlike most of the medications, the route of  
14 administration reported for tramadol nonmedical use  
15 was primarily as swallowing a tablet whole, so no  
16 physical manipulation of the product in that  
17 instance. Tramadol nonmedical use is reportedly  
18 used in nonoral routes as well, as we also saw  
19 presented earlier.

20 Second, [indiscernible] were all remarkably  
21 less common for tramadol than for oxycodone IR  
22 nonmedical use, and oxycodone IR had the highest

1 rate of snorting; so 6 times higher than tramadol.  
2 In relation to the hydrocodone IR products, it was  
3 most likely reported to be used via chewing twice  
4 as likely than tramadol and snorting was over 3 and  
5 a half times higher for hydrocodone IR than  
6 tramadol.

7 We did have some conversation about the  
8 hydrocodone IR combination products, and what we  
9 see are low rates of injecting and smoking along  
10 with the low rates for tramadol. We know that the  
11 combination products, in general, are less likely  
12 to be injected or smoked due to the undesirability  
13 of the second active pharmaceutical ingredient and  
14 the effort it takes to separate the opioid.

15 So whatever you take away today, the  
16 evidence from these large real-world data sets  
17 illustrate that tramadol is most likely to be use  
18 nonmedically, and when it is used nonmedically, the  
19 user is typically not physically manipulating the  
20 product to use via nonoral routes. The abuse risk  
21 for tramadol as an active ingredient is  
22 significantly less than hydrocodone IR and

1       oxycodone IR.

2               Lastly, we know that the combination  
3 products offer an additional deterrent, if you  
4 will, for most users that are looking to get high  
5 or to abuse these products. So in my opinion, the  
6 product under review today does have a more  
7 favorable abuse profile, based upon both the active  
8 ingredient and the fixed combination, than  
9 hydrocodone IR and oxycodone IR, which are the most  
10 common opioids prescribed today. Last but not  
11 least, keep in mind that all of these medications  
12 do serve a therapeutic purpose and are important  
13 medications for all patients. Thank you.

14               DR. LITMAN: Thank you.

15               Will speaker number 4 please step up to the  
16 podium and introduce yourself? Please state your  
17 name and any organization you are representing for  
18 the record.

19               DR. COLEMAN: Good morning. I am Dr. John  
20 J. Coleman, a retired assistant administrator of  
21 the Drug Enforcement Administration, DEA. I appear  
22 here today at my own volition and expense. I have

1 no conflicts of interest and no financial  
2 relationships with the sponsor or any  
3 pharmaceutical company. I represent only myself  
4 and my views, which I will offer here. They are  
5 mine and mine alone.

6 I spent 33 years at DEA and its predecessor  
7 agencies. My responsibilities as assistant  
8 administrator included supervising the Office of  
9 Diversion Control, the DEA division that regulates  
10 and registers persons and business entities  
11 authorized to manufacture, distribute, prescribe,  
12 and dispense medicinal controlled substances. This  
13 also was the division that schedules drugs,  
14 including medicinal control substances.

15 The combination drug under review here today  
16 contains tramadol, a Schedule IV controlled  
17 substance. As you've heard, tramadol was FDA  
18 approved in 1995. Although classified as an  
19 opioid, its abuse potential at the time was  
20 considered minimal and below the levels requiring  
21 scheduling by the DEA.

22 Almost two decades later in 2014, after a

1 re-evaluation showed modest levels of abuse, FDA  
2 and DEA agreed to place tramadol in Schedule IV of  
3 the Controlled Substances Act. Schedule IV is  
4 defined in the statute as having, quote, "low abuse  
5 potential for abuse relative to drugs or other  
6 substances in Schedule III," unquote.

7 For at least the last or past quarter  
8 century, tramadol has been used in the United  
9 States as an effective analgesic. During this  
10 time, millions of prescriptions have been issued  
11 and dispensed for it. It's lengthy record as a  
12 beneficial medicine and a control substance is well  
13 known.

14 National systems that attract drug-related  
15 morbidity and mortality show relatively low levels  
16 of misuse of tramadol compared to levels observed  
17 with most other opioids. In short, tramadol  
18 remains well within the boundaries established by  
19 Congress to identify Schedule IV controlled  
20 substances. Schedule IV, by the way, is the next  
21 to last, lowest level for assessing and designating  
22 abuse potential of controlled substances.

1           Before I finish, I would like to say a few  
2 words about drug schedules. Having worked in this  
3 field for many years, I have encountered patients,  
4 caregivers, and even some practitioners who confuse  
5 and opioid's class or schedule level with its  
6 effectiveness as an analgesic. In this discussion,  
7 it's important to understand that the statutory  
8 requirement and sole purpose for scheduling  
9 medicinal drugs is to identify their potential for  
10 abuse.

11           Most medicinal opioids are on Schedule II, a  
12 designation indicating a high potential for abuse.  
13 The difference in abuse potential between drugs in  
14 Schedule II and drugs in Schedule IV is significant  
15 and worth considering in the review of this  
16 tramadol combination drug.

17           As has been noted, we are in the midst of an  
18 opioid crisis, and opioid abuse crisis. According  
19 to the CDC, drugs that are most frequently involved  
20 in opioid-related overdose deaths are Schedule I  
21 illicitly manufactured fentanyl, fentanyl analogs,  
22 and heroin, and Schedule II medicinal opioids such

1 as oxycodone, methadone, and hydrocodone.  
2 According to the Controlled Substances Act, drugs  
3 in Schedule I and Schedule II have the same abuse  
4 potential. However, in the case of Schedule II  
5 drugs, the FDA has determined that the benefit-risk  
6 ratio favors their approval.

7           These drugs, however, are in a category  
8 Schedule II that requires, quote, "severe  
9 restrictions." Some of the severe restrictions  
10 required by law may at times inadvertently and  
11 adversely affect a patient's access to these drugs.  
12 As mentioned, tramadol is a Schedule IV drug,  
13 meaning its potential for abuse is relatively low  
14 compared with the aforementioned Schedule II  
15 opioids. In addition, the severe restrictions  
16 imposed on Schedule II opioids do not apply to  
17 controlled substances listed in Schedules III, IV,  
18 and V.

19           Given my five decades of professional  
20 experience in the field of drug abuse control, I do  
21 not hesitate to recommend to you the approval of  
22 this innovative tramadol formulation that offers an

1 effective and low-abuse alternative to the highly  
2 abusable Schedule II that are driving the current  
3 public health crisis. Thank you.

4 DR. LITMAN: Thank you.

5 Will speaker number 5 please step up to the  
6 podium and introduce yourself, and please state  
7 your name and any organization you are representing  
8 for the record.

9 DR. LYON: Good morning. I'm Dr. Edd Lyon.  
10 I have no financial interests with or remuneration  
11 from the company producing this medication. The  
12 company did cover my transportation and lodging in  
13 order for me to be here. My testimony is purely  
14 voluntarily on my part.

15 In brief, my background is that of a small  
16 town family medicine physician in a rural town in  
17 Vermont. I practiced for 38 years, retired from  
18 there, and have been working in outpatient VA  
19 clinics for the past three years.

20 During my time in medical practice, I've  
21 borne witness and treated many patients who've been  
22 ravaged by our current opioid addiction epidemic.

1 This problem has obviously caused an unimaginable  
2 number of deaths and destroyed many lives. It's  
3 only been within recent time that the medical  
4 system has taken real initiatives to try to deal  
5 with this plague upon our country.

6 There are many interventions that can and  
7 are now being applied across this cycle of  
8 addiction. In my opinion, one of the more  
9 effective efforts we could make in this cycle is at  
10 the start, trying to prevent the acute pain  
11 developing into chronic pain and addiction. Many  
12 of my patients I feel have been treated either  
13 improperly or with excessive medication for their  
14 acute pain, which then leads to chronic pain and  
15 opioid addiction.

16 Examples include numerous instances of young  
17 athletes with an acute athletic injury treated with  
18 excessive amounts of opiates, which then lead to  
19 years and years of life-destroying addiction.  
20 Likewise, I commonly see this regarding low back  
21 pain and osteoarthritis being treated  
22 inappropriately.

1           I feel that this novel combination  
2 medication can become a welcomed addition to our  
3 toolbox for dealing with acute pain; certainly not  
4 the only one and maybe not the best one in each  
5 patient, yet I feel it becomes a new tool that is  
6 available. Also in my experience in prescribing  
7 tramadol and celecoxib, I find they've been both  
8 effective for relief of pain and have less  
9 addiction potential.

10           In treating the VA population, I've been  
11 particularly troubled by the effects of the opiates  
12 upon them. This is a population who has lived in  
13 harm's way and have widespread physical and mental  
14 pain. I have noted that in an attempt to deal with  
15 their acute pain, based upon the methodologies  
16 available at the time, these men and women were  
17 treated, yet had unresolved pain and soon joined  
18 the ranks of the chronically addicted opiates.

19           To its credit, the VA is working hard to  
20 introduce new protocols to deal with this tragic  
21 outcome. Despite this, the intersection of PTSD,  
22 depression, chronic pain, and opiates have been a

1 continuing nexus of epidemic suicides and troubled  
2 lives within the VA population. Incidentally, I  
3 would say most of the opiates that are being abused  
4 in this population are either hydrocodone or  
5 oxycodone. I seldom see tramadol being misused.

6 Again, I feel a new way of dealing with  
7 acute pain would be a welcomed addition to our  
8 armamentarium. Thank you for your attention.

9 DR. LITMAN: Thank you.

10 Will speaker number 6 -- and this is our  
11 final speaker -- please step up to the podium and  
12 introduce yourself? Please state your name and any  
13 organization you are representing for the record.

14 DR. FUGH-BERMAN: Good morning. I'm Adriane  
15 Fugh-Berman, physician and professor of  
16 pharmacology and physiology and family medicine at  
17 Georgetown University Medical Center. I'm  
18 representing Pharmed Out, a rational prescribing  
19 project at Georgetown and also Physicians for  
20 Responsible Opioid Prescribing. My conflict of  
21 interest is that I'm a paid expert witness at the  
22 request of plaintiffs in litigation regarding

1 pharmaceutical medical device marketing practices,  
2 including opioids. This testimony is independent  
3 of that.

4 We oppose the approval of this new drug  
5 application for a fixed-dose product. Tramadol is  
6 interesting but a very unpredictable drug because  
7 of the individual differences. In CYP2D6, the  
8 opioid effect of tramadol varies widely. It will  
9 have no analgesic effect at all in 7 percent of the  
10 population with a deficiency of CYP2D6, and it will  
11 have a superpotent effect on about 10 percent of  
12 the population that are ultra rapid metabolizers.  
13 Every prescription written for tramadol, then, will  
14 have unpredictable effects.

15 Like other opioids, tramadol can cause  
16 respiratory depression and death, and because of  
17 differences in CYP2D6, it can cause respiratory  
18 depression at much lower doses than expected,  
19 especially in children, a population in which the  
20 FDA has specifically warned against using tramadol.

21 Not only does tramadol have the same adverse  
22 effect profile as other opioids, but it has

1 additional adverse effects. Because of its effect  
2 on serotonin and norepinephrine receptors, it can  
3 cause serotonin syndrome and hypoglycemia,  
4 especially in type 1 diabetics, and then it seems  
5 to have some unique adverse effects not associated  
6 with either opioids or SNRIs usually, including  
7 seizures, hyponatremia, QT prolongation, mania, and  
8 there are case reports of pica and Parkinsonism.  
9 Factors are also more common in tramadol users.

10 Although this drug is to be approved for  
11 acute use, it's very predictable that it would also  
12 be used chronically. By the way, it's also  
13 associated with bleeding ulcers, and it can cause  
14 drug interactions, especially when taken with any  
15 CYP2D6 inhibitors, which include of course many  
16 common antidepressants.

17 There are withdrawal syndromes, of course,  
18 that are associated with opioids and also serotonin  
19 reuptake inhibitors, but tramadol has an atypical  
20 withdrawal syndrome that can include panic attacks,  
21 hallucinations, and paranoia, and these are common.  
22 They occur in about 1 in 8 patients withdrawing

1 from tramadol. It's extremely worrisome that  
2 tramadol prescriptions have increased as a  
3 percentage of prescribed opioids, and the reason  
4 for that may be because physicians believe that  
5 tramadol is not an opioid or a weak opioid. This  
6 is a misperception, but many doctors do not believe  
7 this is an opioid.

8           There are two studies that have associated  
9 tramadol with increased mortality, including an  
10 observational study in osteoarthritis patients, and  
11 it found an increased risk of mortality compared to  
12 four common NSAIDs.

13           There's no question that tramadol is  
14 addictive. Among short-acting opioids, tramadol  
15 appears to be associated with long-term opioid use  
16 more often than other short-acting opioids.

17 Dr. Litman referenced one study in the BMJ.  
18 There's also a 2017 CDC study showing that about  
19 14 percent of those prescribed tramadol were still  
20 taking an opioid a year later.

21           Tramadol abuse is common. This has been  
22 much better demonstrated, though, in other

1 countries than in the U.S. Tramadol addiction is a  
2 major issue in India, Africa, and the Middle East.  
3 For example, 12 percent of Egyptian college  
4 students use tramadol. This is a huge problem  
5 elsewhere; let's not have it become a huge problem  
6 here.

7 It's very curious that the results of the  
8 two efficacy trials conducted by Mundipharma of  
9 this drug, including a trial in 726 patients after  
10 third molar extraction and another 1,138 patients  
11 after abdominal hysterectomy -- these were  
12 mentioned in the FDA briefing document -- are not  
13 included in this assessment. I wonder if that's  
14 something the committee could ask about; surely  
15 it's relevant to know about these acute pain  
16 trials.

17 It's unclear whether tramadol is actually  
18 effective for relieving pain maybe because of its  
19 wildly varying effects in different people. It's  
20 failed to show effectiveness for pain against  
21 NSAIDs, and in some cases placebo, in numerous  
22 clinical trials, including trials in

1 osteoarthritis, acute muscular pain, and  
2 third-molar surgery, which is commonly used for  
3 acute pain.

4 DR. LITMAN: I'm sorry. I'm going to have  
5 to cut you off. The time is running out, and we're  
6 trying --

7 DR. FUGH-BERMAN: Can I just --

8 DR. LITMAN: One last statement.

9 DR. FUGH-BERMAN: -- do my closing  
10 statement?

11 DR. LITMAN: Please.

12 DR. FUGH-BERMAN: We ask the committee not  
13 to approve this fixed-dose combination of tramadol  
14 and celecoxib because there's no evidence that the  
15 mixture is more effective than unilateral  
16 [indiscernible] doses of celecoxib or the product's  
17 components taken together.

18 The inclusion of tramadol increases risks  
19 without adding benefits, and given the widespread  
20 belief that tramadol is not an opioid or very weak,  
21 combining tramadol with an NSAID will only enhance  
22 misperceptions of benignity and will lead to more

1 opioid prescriptions and more opioid related harms.

2 Thank you.

3 DR. LITMAN: Thank you.

4 The open public hearing portion of this  
5 meeting is now concluded and we will no longer take  
6 comments from the audience. The committee will now  
7 turn its attention to address the task at hand, the  
8 careful consideration of the data before the  
9 committee as well as the public comments.

10 Now, Dr. Lowy will provide us with a charge  
11 to the committee.

12 **Charge to the Committee - Naomi Lowy**

13 DR. LOWY: I will now shift the focus back  
14 to our asks of the committee. I will detail the  
15 two discussion points and one voting question for  
16 the committee to address. We asked the committee  
17 to consider the information provided to you in the  
18 background documents, the presentations, the  
19 clarifying questions, and the open public hearing,  
20 so I will go through the discussion point number 1.

21 Considering the abuse potential of tramadol  
22 44 milligrams and celecoxib 56-milligram tablets

1 and its proposed use for the management of acute  
2 pain in adults that is severe enough to require an  
3 opioid analgesic, and for which alternative  
4 treatments are inadequate, please discuss any  
5 concerns you have regarding the impact of this  
6 product if approved on public health.

7 Discussion point number 2, discuss whether  
8 the benefits outweigh the risks for the proposed  
9 indication. Discuss if any additional data are  
10 needed for this application to be approved.

11 Finally, your voting question is do you  
12 recommend approval of tramadol 44 milligrams and  
13 celecoxib 56-milligram tablets for the proposed  
14 indication of the management of acute pain in  
15 adults that is severe enough to require an opioid  
16 analgesic and for which alternative treatments are  
17 inadequate. Thank you.

18 DR. LITMAN: Thank you, Dr. Lowy.

19 We will now proceed with the questions to  
20 the committee and panel discussions. I would like  
21 to remind public observers that while this meeting  
22 is open for public observation, public attendees

1 may not participate except at the specific request  
2 of the panel.

3 The other comment I wanted to make is I know  
4 that we have a couple of industry reps with  
5 specific expertise in both clinical trials and  
6 safety, and although you are nonvoting, the  
7 committee would be interested in your comments or  
8 responses to the questions.

9 Moon, can I have that first question again?  
10 Can we post that for everybody to see, please?

11 Again, I'm just going to repeat.  
12 Considering the abuse potential of tramadol and  
13 celecoxib and its proposed use for the management  
14 of acute pain in adults that is severe enough to  
15 require an opioid analgesic for which alternative  
16 treatments are inadequate, please discuss any  
17 concerns you have regarding the impact of this  
18 product, if approved, on public health.

19 This first question is just concerns about  
20 public health, and we'll get to the other part with  
21 question 1.

22 (Brief pause.)

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Clarifying Questions (continued)**

DR. LITMAN: Thank you, Moon, for reminding me. We have about 10 minutes to go back to the sponsor, if that's okay. There were a couple of panelists who did not get their questions in, and thank you for reminding me because I did want to get to that.

Professor Phillips?

MS. SHAW PHILLIPS: Thank you. My question actually related to our last open hearing speaker, and that was any data that you had looking at safety, efficacy, as well as the PK on rapid metabolizers or slow metabolizers, and subanalysis of the data or any comments related to that.

DR. PLATA-SALAMAN: We will use more conservative labeling regarding the drug-drug interactions --

MS. SHAW PHILLIPS: The question is not about labeling; the question is about data that you have or analysis of the data that you collected that would tell you about differential risk versus benefit in those subpopulations.

1 DR. PLATA-SALAMAN: The data that we have  
2 from all the crossover design studies that are  
3 included in the briefing document, the  
4 pharmacokinetics did not reveal any concern  
5 regarding any differences in the pharmacokinetics  
6 comparing tramadol alone or the tramadol plus  
7 celecoxib combination, and the differences with CTC  
8 were consistent in all the studies. We didn't do  
9 genotyping for 2D6 or 2C9 metabolites, so we do not  
10 have genotyping on the subjects that were used.  
11 Those studies in aggregate, all the studies  
12 concerning the crossover and clinical pharmacology  
13 studies have more than 200 subjects.

14 DR. LITMAN: Thank you. Dr. Zeltzer?

15 DR. ZELTZER: Thanks. One question that you  
16 didn't address is what percent of patients in your  
17 study, when you ended this study since it was a  
18 short monitoring period, continued to have pain and  
19 continued to use some sort of analgesic? Because  
20 there's a subgroup that will go on beyond the  
21 expected acute pain postop period and continue to  
22 have pain.

1 DR. PLATA-SALAMAN: As was shown with the  
2 oxycodone rescue medication in the 48-hour period,  
3 it can be seen that the percentage of patients  
4 using rescue medication over that period is low,  
5 and toward the end it's actually lower in the  
6 12-hour intervals. Here, the 48 hour, which is the  
7 period where patients were monitoring with 33 pain  
8 score measurements, the oxycodone rescue by that  
9 time was already very low as indicated in this  
10 slide.

11 I would say that also, to answer that  
12 clarifying question, this slide referring to the  
13 treatments, whether they need additional  
14 treatments -- but to answer the clarifying  
15 questions regarding the every 12-hour dosing  
16 interval, the question that was in the morning  
17 regarding the 201 study of whether the effect was  
18 seen, the data was carried over the 12 hours, and  
19 this is actually the data that shows that it's not  
20 only that the effect maintains at 8 hours, but it  
21 extends to the 12 hours.

22 As it relates to any other medication that

1 patients may have in the subsequent days, 9 days  
2 after study, that was to the discretion of  
3 physician. But maintaining clarity on the  
4 oxycodone use, the overall profile of safety, which  
5 is important, considers all the adverse events of  
6 patients that received rescue medication,  
7 concomitant medication. But we do agree with the  
8 conclusion from the FDA that the safety of CTC is  
9 expected to be comparable through the safety of the  
10 individualized components within the context that  
11 CTC contains less than half of each of the  
12 individual components. And as it relates to  
13 tramadol, these correspond to less -- is below the  
14 CTC threshold for relatively low opioid doses.

15 DR. ZELTZER: But you didn't monitor the  
16 group that at the end of the 48 hours continued to  
17 have pain, and even though this study drug wasn't  
18 available, may have continued on separately since  
19 they couldn't access the combination, the celecoxib  
20 and the tramadol. Then looking at risk-benefit, if  
21 the combo drug, if your drug, were available, would  
22 they continue that beyond, and what would be the

1 risks of continuing that?

2 That's one question, then the other is  
3 pre-meds that patients may have been on, like  
4 duloxetine and SSNRIs that could impact toxicity  
5 potentially of tramadol or the tramadol component.

6 DR. PLATA-SALAMAN: The clinical trial  
7 design did include, as it relates to inclusions and  
8 exclusions, the warnings and contraindications per  
9 the individual labels. Patients were  
10 contraindicated based on certain characteristics  
11 because of medications that they were taking or  
12 based on the tramadol label or celecoxib label.

13 The clinical trial design followed the  
14 individual label's recommendations as it relates to  
15 contraindications, warnings, and precautions in  
16 relation to inclusion and exclusion. At the same  
17 time, this will be the same that we expect in the  
18 clinical practice because the same warnings,  
19 precautions, and contraindications will be included  
20 in the label.

21 DR. ZELTZER: So you don't know, just  
22 lastly, if this were approved, the number of

1 patients or percentage, following an acute surgical  
2 procedure, that would continue to use your  
3 medication continuously for a much longer period of  
4 time, and then what would be the risk-benefit ratio  
5 of that continued use for that subgroup?

6 DR. PLATA-SALAMAN: We will use monitoring  
7 for product use, and it would be based on the  
8 indication we proposed, for patients that require  
9 an opioid analgesic. As it relates to your  
10 pertinent questions regarding patients with other  
11 medications or adverse events, concomitantly  
12 or -- we have clinical experience on the safety of  
13 tramadol with other medications. I would like  
14 Dr. Viscusi to please explain his own experience of  
15 using tramadol in the clinic and also to clarify  
16 that question in the morning --

17 DR. LITMAN: Dr. Plata, I'm really sorry.  
18 I'm just going to take clarifying questions and not  
19 just opinions and conjecture. We may have time for  
20 one more.

21 Dr. Goudra, do you have a clarifying  
22 question?

1 DR. GOUDRA: Yes. Thank you. Basavana  
2 Goudra. If I can draw your attention to slide 28,  
3 if I look at the plasma concentrations, I almost  
4 get an idea -- at the end of 2 hours, for example,  
5 it looks like mean tramadol on its own,  
6 100 milligrams, was around 280 nanograms, whereas  
7 in combination it was about 180 nanograms.

8 I wonder whether this new mixture is  
9 actually hindering the absorption of tramadol;  
10 that's one thing. And as it is, I wonder whether  
11 the therapeutic concentrations -- I don't know what  
12 other therapeutic concentrations, if you can tell  
13 me that. Finally, because the concentrations  
14 achieved are not even sufficient, whether the  
15 clinical efficacy that's been achieved is purely  
16 because of your hindsight.

17 DR. PLATA-SALAMAN: To answer your question,  
18 first, this is a co-crystal effect. Tramadol is a  
19 very highly soluble molecule, and the co-crystal  
20 structure because of the changes of the  
21 physicochemical properties, you reduce its  
22 intrinsic dissolution rate, that translates to

1 slower absorption.

2 What we see here is the co-crystal effect,  
3 but still tramadol reaches the level that are  
4 required for analgesic efficacy.

5 DR. GOUDRA: Can you tell me what's the  
6 therapeutic concentration?

7 DR. PLATA-SALAMAN: The threshold for  
8 therapeutic efficacy for tramadol will range from  
9 125 nanograms per milliliter and above, and perhaps  
10 even lower, so it's very clear within that. The  
11 CTC effect also accelerates the absorption of  
12 celecoxib because celecoxib is an insoluble  
13 molecule. The synchronization both in the CTC and  
14 in the pharmacokinetics of celecoxib, you will see  
15 that it is an accelerated absorption from 3 hours  
16 of celecoxib alone to 1.5 in the pharmacokinetics.  
17 The blue line is indicated here.

18 So this more rapid absorption of celecoxib  
19 is synchronizing with the activity of tramadol for  
20 the efficacy. This is the model that we think is  
21 happening because we do see that in the phase 3  
22 trial compared to 50 milligrams of tramadol 4 times

1 a day, which is a typical use of tramadol used in  
2 acute pain in clinical practice. We accelerated  
3 the meaningful pain relief by 53 minutes compared  
4 to tramadol alone.

5 DR. GOUDRA: Well, considering the  
6 pharmacodynamic variability, don't you think there  
7 could be some patients who are not even achieving  
8 therapeutic efficacy?

9 DR. LITMAN: I'm sorry. I'm going to cut  
10 you off. I just want clarifying questions. I  
11 apologize for. We're out of time.

12 DR. PLATA-SALAMAN: Dr. Litman, one  
13 clarifying question regarding the British Medical  
14 Journal article, can I ask Dr. Viscusi to present  
15 his analysis?

16 **Questions to the Committee and Discussion**

17 DR. LITMAN: No, I'm sorry. I've just been  
18 told that we don't have enough time for -- just  
19 clarifying questions.

20 Let's start the discussion. Again, just  
21 going back to question 1, there's no need to read  
22 it again, but I just wanted to remind everybody

1 this is on the public health concerns of this  
2 medication. Dr. Horrow?

3 DR. HORROW: Jay Horrow. There was some  
4 discussion about the potential toxicity of  
5 injecting this drug in terms of the celecoxib  
6 component of it, and I thought that I might provide  
7 some information regarding that.

8 First, you should know that my company,  
9 Bristol-Myers Squibb, has not produced an NSAID, as  
10 far as I know, since 1992, and that was just to  
11 market on the UK market, so I believe there's no  
12 conflict. The idea of diverting this medication  
13 for IV raises the issue of cardiovascular toxicity  
14 by one of the members of the panel. To my  
15 knowledge, the cardiovascular toxicities of NSAIDs  
16 are related to chronic use, not episodic injection  
17 or episodic use of these medications.

18 The chronic use, the cardiovascular toxicity  
19 is related to small increases in blood pressure  
20 perhaps that are maintained for long periods of  
21 time that then result in adverse cardiac effects.  
22 I would also point out that Steve Nissen's article

1 in the New England Journal of Medicine indicates  
2 that those toxicities are noninferior when compared  
3 with other NSAIDs, in particular with naproxen and  
4 ibuprofen.

5           Regarding the GI toxicity aspect of it,  
6 celecoxib has risks that are approximately half  
7 those of naproxen and ibuprofen. Again from the  
8 same New England Journal of Medicine article, the  
9 toxicity is GI toxicity, that is gastritis, which  
10 can lead to bleeding, but that is not the  
11 catastrophic liver failure that occurs with large  
12 doses of acetaminophen that could be administered,  
13 say, if someone were taking large doses of one of  
14 the combination products with hydrocodone or  
15 oxycodone.

16           Regarding the tramadol component of abuse in  
17 this medication, I would think that someone  
18 attempting such would just go for the tramadol tabs  
19 instead. It would be a far better choice than the  
20 fixed-dose combination. I see the potential for  
21 abuse much less than that with tramadol, and I  
22 think that this fixed-dose combination in regard to

1 the long-term public health aspects would be an  
2 incremental improvement over what's available now.  
3 Thank you.

4 DR. LITMAN: Thank you. I'll just remind  
5 everybody, we have an unusually large number of  
6 panelists today, so try and make your point shorter  
7 than usual. Dr. Hoffer?

8 DR. HOFFER: Thank you. Lee Hoffer. Just  
9 to give you some context, I exclusively work with  
10 people who abuse drugs, inject both illegal drugs  
11 and pharmaceuticals. Tramadol has been around  
12 forever, Ultram, and we hear about it a fair  
13 amount. But for people that I work with, they  
14 escalate their doses fairly rapidly, far beyond the  
15 MMEs associated with Ultram. They're much more  
16 interested in getting oxy, or fentanyl, or heroin,  
17 and you almost never hear -- you hear about Ultram  
18 about as much as you hear about codeine. That's  
19 point number one.

20 The second is that the charge to the  
21 committee is, I think, an important one to think  
22 about. If we're talking about opiate analgesics as

1 alternative treatment or the last line of other  
2 kinds of therapies, I'm not sure if it's fair to  
3 compare CTC to even tramadol, primarily because of  
4 the low dose here. When we look at the  
5 epidemiology of the challenges associated with  
6 tramadol, this drug isn't even tramadol; it's sort  
7 of tramadol light, if you will.

8 So I think, going back to a comment that was  
9 made earlier when we were talking about people  
10 giving opiate medications to control pain to  
11 patients, we want to start with the lowest dose  
12 possible to get an effect. So I guess for me,  
13 interested in public health and not wanting to see  
14 all the people that I -- well, not all of the  
15 people. A lot of the people that I work with  
16 started out as pain patients.

17 So I think here, there might be a nice  
18 balance. This is about as low a dose as you can  
19 probably still make a drug. I think that needs to  
20 be considered in the approval of this medication.

21 DR. LITMAN: Thank you. Dr. Zacharoff?

22 DR. ZACHAROFF: I actually didn't have a

1 comment, but I just want to agree with what  
2 Dr. Hoffer just said. I consider this to be a drug  
3 that is really a tramadol light, and I would look  
4 at it as something that carries less risk than  
5 tramadol.

6 DR. LITMAN: Thank you for your non-comment.

7 (Laughter.)

8 DR. LITMAN: Professor Phillips?

9 MS. SHAW PHILLIPS: I just want to comment  
10 on the difference between real-world use and ideal  
11 use. In 2014, we rescheduled a recommended  
12 rescheduling of hydrocodone, and it was very common  
13 among prescribers and the public to think that that  
14 was a much safer option. That was the same year  
15 that tramadol became a Schedule IV, and I know  
16 there are petitions to make it a Schedule II.

17 So I think we need to be careful not to say  
18 it's a better option. I think if the patients were  
19 all genotyped and it was limited to a couple of  
20 days use, and there were some parameters for safe  
21 use, it could potentially be a safer alternative  
22 for the right patients.

1           I think we just need to be careful in the  
2 context that we look at it as a niche. The public  
3 health concern I would have is for broad thoughts  
4 that it's a safer alternative.

5           DR. LITMAN: Thank you. Dr. Staffa?

6           DR. STAFFA: Judy Staffa. I just want to  
7 clarify what we know about the cardiovascular risk  
8 associated with NSAIDs. It actually is not limited  
9 to chronic use. There is no dose without risk, and  
10 that's in our labeling that was updated several  
11 years ago. Also, we are unable at this point to  
12 cleanly differentiate the risk associated with  
13 different agents, which is why it's a class-wide  
14 warning right now until we get further data on that  
15 issue. So I just wanted to make sure that was in  
16 the record.

17          DR. LITMAN: Thank you. Dr. Mehta?

18          DR. MEHTA: Hi. Reema Mehta. I wanted to  
19 echo some of the comments that were just made  
20 regarding the public health impact of this and some  
21 of the other comments that were made regarding some  
22 of the safety concerns of the individual

1 components. I think we need to first acknowledge  
2 that FDA has already determined that there is a  
3 favorable benefit-risk profile for celecoxib, as  
4 well as tramadol. So some of this applies across  
5 the ingredient and is not unique to CTC, and  
6 there's nothing about CTC that suggests that there  
7 would be a different profile as it relates to some  
8 of those matters.

9           Also, I wanted to echo the comment about  
10 tramadol light, specifically with regards to public  
11 health. I think in this case, we're talking about  
12 less is more. So having the opportunity to be able  
13 to leverage the benefits of tramadol at a much  
14 lower concentration I think is something that  
15 should be valued here. We see that as evidenced by  
16 a lower Tmax and a lower Cmax while sustaining  
17 efficacy through the clinical trials.

18           I think also having that lower concentration  
19 of tramadol may be an innate property of being  
20 abuse deterrent as compared to single-ingredient  
21 tramadol, where you will get a more pure exposure  
22 to tramadol as well as have higher concentrations

1 as compared to this product. Thank you.

2 DR. LITMAN: Thank you. Dr. Suarez?

3 DR. SUAREZ-ALMAZOR: Yes. I would like, if  
4 it's possible, to show the sponsor's slide 51.

5 DR. LITMAN: Fifty-one.

6 DR. SUAREZ-ALMAZOR: Thank you. Though  
7 this relates to efficacy, at the end of the day  
8 what we have here is a negative trial with a drug  
9 that doesn't seem to be better than using celecoxib  
10 alone. All three compounds were better than  
11 placebo, but there were no differences between  
12 them. From a public health perspective, we are  
13 talking about adding another opioid compound that  
14 hasn't really shown better efficacy than even the  
15 single ingredients, and we don't have a comparator  
16 with the single ingredients used together.

17 DR. LITMAN: Thank you. Dr. Sullivan?

18 DR. SULLIVAN: This is timely to follow on  
19 that because I think I've heard two different  
20 things, and I don't know if people can help clarify  
21 that slide 50 showed p-values comparing the change  
22 in SPID for tramadol versus CTC and shows a

1 significant difference. I think confidence  
2 intervals on slide 51 appear to overlap here.  
3 They're showing a significant p-value, and I think  
4 if this is an important consideration, we should  
5 try and resolve that, our understanding of that.

6 DR. PLATA-SALAMAN: Can I clarify, please?

7 DR. LITMAN: Sure, Dr. Plata.

8 DR. PLATA-SALAMAN: Slide 50, please, CO-50.

9 This is the primary endpoint according to the  
10 clinical protocol and the request by the FDA. Yes,  
11 you'll see a significantly better efficacy of CTC  
12 compared to tramadol, to celecoxib, and placebo.  
13 The statistical significance is at the bottom.  
14 Those are the compilations related to the overall  
15 data in the SPID 48. If you look into the  
16 confidence intervals, the CTC has minimal or no  
17 confidence intervals overlap with the other groups.

18 Slide 51 is a different analysis. This is  
19 intended to show that if you subtract the placebo  
20 effect, which is very typical of these trials, you  
21 get an almost doubling effect in the SPID 48 of CTC  
22 compared to tramadol and celecoxib. In this

1 analysis, also there is clarity as it relates to  
2 the SPID in general. Slide 50 is one that it is  
3 used for supporting the approval of this product,  
4 which is based on the clinical protocol and  
5 statistical plan.

6 DR. LITMAN: Dr. Sullivan, was that the  
7 answer?

8 DR. SULLIVAN: Yes. I just want to clarify  
9 that the slide 50 analysis was -- I'm checking  
10 clinical trials.gov, but that was your  
11 prespecified --

12 DR. PLATA-SALAMAN: Yes.

13 DR. SULLIVAN: -- for efficacy.

14 DR. PLATA-SALAMAN: This was our  
15 prespecified analysis on this, and we fully agree  
16 also with the different sensitivity analysis that  
17 the FDA conducted and additional analysis that we  
18 did. The conclusion of all the different analyses  
19 is that CTC in the different sensitivity analysis,  
20 too, is significantly better to tramadol, to  
21 celecoxib, and placebo.

22 DR. LITMAN: Thank you. Ms. Robotti?

1 MS. ROBOTTI: Hi. Suzanne Robotti. I see a  
2 lot to like about this combination drug. Many  
3 people have said positive things about the lower  
4 amount of tramadol being used, but I am concerned  
5 how we can approve an opioid, even at a lower dose,  
6 in a combination that has a history of abuse and  
7 misuse without having a clear picture on HAP  
8 studies or what would really happen if this was  
9 abused. That's as fast as I can talk.

10 DR. LITMAN: Thank you. Dr. Higgins?

11 DR. HIGGINS: I have several concerns  
12 related to the abuse potential and the potential  
13 impact on public health. I guess my first point,  
14 and what I'm a little concerned about, is the  
15 fixed-dose combination product, which does not  
16 really allow for titration or dosing at different  
17 amounts and flexibilities. I'm also concerned  
18 about the fact that there's no excipients to deter  
19 abuse or resist manipulation of the product. I was  
20 drawn by the FDA's data regarding the  
21 tramadol-involved overdose deaths increasing  
22 between 2011 and 2017.

1           Perhaps one of the most important points I'd  
2     like to make, which was raised by the first public  
3     speaker, was the financial cost of introducing a  
4     new product that is arguably, perhaps, not superior  
5     to other products that exist, and what kind of  
6     financial impact that would have on the public is  
7     also something I'd be concerned about.

8           DR. LITMAN: Thank you. Dr. Meisel?

9           DR. MEISEL: Steve Meisel. I agree in some  
10    ways of looking at this, this is tramadol light.  
11    But there's one thing that hasn't been articulated  
12    real well, and that is this is a different chemical  
13    molecule, if you will, this crystal. So what would  
14    happen if somebody were to go and inject this stuff  
15    and now we've got this crystalline combination  
16    chemical, large molecule? What happens when that's  
17    in the bloodstream? Does it precipitate? Does it  
18    get into the lungs, does it get into the heart,  
19    does it get into the kidney, does it get someplace  
20    and cause all sorts of nasty things that otherwise  
21    would be unpredicted by the individual ingredients  
22    alone.

1           We've had experiences with excipients that  
2           have later come back and haunted us, and products  
3           have had to be taken off the market for that  
4           reason. There's no new chemical here. It's a  
5           different way of producing these molecules, but  
6           does that combination, that way of producing these  
7           molecules, create some risks that have not been  
8           explored at all?

9           We have no idea whether there is a risk or  
10          there isn't a risk, and from a public health point  
11          of view, we run the risk -- overusing the word  
12          risk -- of introducing some problems that are  
13          otherwise unpredictable for benefit that I think we  
14          all would agree is light in terms of clinical  
15          value.

16                 DR. LITMAN: Dr. McCann?

17                 DR. McCANN: I had the identical comment.  
18                 This is a crystal. Presumably it dissolves slower  
19                 in the acidic environment of the stomach. I would  
20                 like the sponsor to answer whether there is any  
21                 evidence that when it hits the bloodstream it  
22                 dissolves immediately or does it turn into

1 microemboli as Dr. Meisel was talking about. Thank  
2 you.

3 DR. PLATA-SALAMAN: Can I clarify?

4 DR. LITMAN: Dr. Plata, please?

5 DR. PLATA-SALAMAN: Yes. CTC fulfills the  
6 requirements of immediate release, so it dissolves  
7 85 percent within 15 minutes in vitro. When the  
8 tablet is taken, it is dissolved in the  
9 gastrointestinal system. When it's already in the  
10 blood, it's only the two individual components.

11 DR. McCANN: What if somebody injects it?

12 DR. PLATA-SALAMAN: It will dissolve into  
13 its two components.

14 DR. MEISEL: How quickly?

15 DR. PLATA-SALAMAN: We don't have the data  
16 to answer this question.

17 DR. LITMAN: Dr. Marshall?

18 DR. MARSHALL: Brandon Marshall. Thinking  
19 about these public health impacts are challenging  
20 because of the hypotheticals here, but I think one  
21 hypothetical that I'm struggling with a little bit  
22 is the extent to which you may see expansion versus

1 replacements.

2           So I'd be interested in hearing from  
3 clinical panel members whether this product is  
4 likely to replace hydrocodone products or whether  
5 you might actually see expansion of patients  
6 exposed to opioids and whether this would be used  
7 instead of non-opioid options. I'd have much fewer  
8 concerns if the anticipated impacting clinical  
9 practice is the former; that is that this substance  
10 will simply start replacing hydrocodones,  
11 oxycodones, and so forth.

12           DR. LITMAN: Sure. Dr. Michna?

13           DR. MICHNA: Well, the problem is we don't  
14 have the data, right? They haven't done this  
15 study. This is approved for acute pain. It's only  
16 for postoperative pain for 48 hours, which is my  
17 problem. I think initially what's going to happen  
18 is you're going to get an oxycodone script, you're  
19 going to get this, you're going to get something  
20 else because nobody wants the phone calls. And I  
21 think this just complicates things, and patients  
22 are confused, and there's nothing to stop them from

1 taking two of these and not getting anything, and  
2 then taking oxycodone, and at that point be  
3 oversedated because they've taken so much stuff.

4 I wish we had more realistic clinical  
5 studies that would show us how this would actually  
6 play out. Right now, I'm very concerned about this  
7 breakthrough issue, and it's not been adequately  
8 explained to me what's going to happen.

9 DR. LITMAN: Dr. Zaafran?

10 DR. ZAAFRAN: Thank you. Let me try to  
11 bring this back to the clinical setting because I  
12 think that's what --

13 DR. LITMAN: Is it public health related?

14 DR. ZAAFRAN: Yes, absolutely. From the  
15 standpoint of what we do in a practical setting  
16 from how we prescribe today, we prescribe opioids  
17 for short term and we prescribe, or we try to  
18 prescribe, nonsteroidals and other medications to  
19 kind of supplement it in a multimodal fashion.  
20 From my standpoint, medication, the way it's  
21 structured right now, will probably decrease the  
22 way we prescribe opioids in a short-acting fashion

1 from the standpoint of trying to cover for the  
2 breakthrough. So from that standpoint, I think  
3 it's actually going to be safer from a public  
4 health standpoint.

5 The way we have a lot of states right now,  
6 they limit the number of days that acute pain  
7 medications can be prescribed, either 7 days or  
8 10 days, depending on which state it is. So the  
9 medication as it's going to be prescribed is going  
10 to be limited to those number of days as the states  
11 prescribing to. With the PDMP that we have to  
12 check in many states also, we're going to be  
13 looking for physicians prescribing oxycodone along  
14 with this, along with something else, because it's  
15 something that we are encouraging folks not to do.

16 So the way I practically see this happening  
17 right now is that as opposed to the  
18 intraoperative/perioperative setting, a physician  
19 prescribing things in a multimodal fashion, and as  
20 soon as they get discharged, the surgeon does the  
21 easy thing of I'm going to just give Vicodin or  
22 Percocet and maybe you can take a nonsteroidal on

1 the side; here you have a q-12 dosing of both, an  
2 opioid and a nonsteroidal, which is more practical  
3 from the standpoint of what we need, and they can  
4 take acetaminophen in addition to that if they need  
5 it for breakthrough pain.

6 From the clinical standpoint, I think it's  
7 better for public health and I think it's more  
8 practical for the clinicians who are trying to  
9 manage acute pain postoperatively.

10 DR. LITMAN: Thank you. Just a reminder to  
11 speakers to state your name for the record.

12 DR. ZAAFRAN: Sherif Zaafran.

13 (Laughter.)

14 DR. LITMAN: Dr. Sandbrink?

15 DR. SANDBRINK: I'm not going to comment  
16 anymore --

17 DR. LITMAN: State -- sorry -- your name.

18 DR. SANDBRINK: Friedhelm Sandbrink. I  
19 don't want to comment too much about the need to  
20 actually have an injection study and experiment to  
21 some degree because of the crystallized structure  
22 that was mentioned, but mostly to the public health

1 concern, we have to realize that people will look  
2 at this, if approved, and we'll start making  
3 modifications based on assumptions that they make.

4           So on one hand, I'm quite bothered that we  
5 don't actually have a comparison in a clinical  
6 study that compares this with both active  
7 ingredients taken together. We have a comparison  
8 with tramadol; we compare with celecoxib. I do  
9 understand that there are reasons in regard to the  
10 blunted effect of celecoxib taken together with  
11 tramadol if it's not in a crystallized form, but  
12 the reality is people will look at this and they  
13 will start using tramadol and celecoxib possibly  
14 together from the generics that are out there or  
15 from the pure medications that are out there. So  
16 that's a public health concern, and not having a  
17 comparison is quite bothersome to me.

18           On the other hand, if I look at -- also, I  
19 fear that there's an expansion in regard to  
20 actually using tramadol. It's blunted effect, as  
21 we heard, in regard to the initial release, but  
22 after 6 hours, despite having a reduced Cmax and a

1 prolonged Tmax, after 6 hours, the plasma level is  
2 the same as if I gave tramadol alone.

3 So I suspect still, despite everything that  
4 we've seen, that the effect after 6 to 12 hours is  
5 really the celecoxib effect, and the SPID doesn't  
6 address that because it's a combined 48-hour  
7 summary score. So I fear that we may be exposing  
8 patients, who could be very well treated with  
9 celecoxib alone, to a combination with tramadol as  
10 low as the dosage is, because if they get benefit  
11 from this throughout the 12 hours, probably it's a  
12 nonsteroid towards the end of it.

13 DR. LITMAN: Thank you. Dr. Goudra?

14 DR. GOUDRA: Oh -- none [indiscernible].

15 DR. LITMAN: Dr. Amirshahi?

16 DR. AMIRSHAHI: Maryann Amirshahi. One of  
17 my concerns is I think the landscape of tramadol  
18 prescribing and abuse is really evolving, so if we  
19 look at recent prescribing and overdose trends,  
20 there have been studies that have shown that  
21 there's been an exponential uptake in tramadol  
22 prescribing, and the studies that were presented

1 obviously showed that.

2 So I'm concerned because, number one, I  
3 think we do know that it's probably safer to  
4 prescribe tramadol than oxycodone, but the issue  
5 is, are we going to see a much larger uptake in the  
6 tramadol prescribing because there's this  
7 perception that it's safer but it's not completely  
8 safe. I think that's kind of what got us into the  
9 problem with the opioid epidemic in the first  
10 place.

11 As Dr. Hoffer, I believe, said, a lot of his  
12 patients started with a prescription opioid. They  
13 didn't start with heroin, the vast majority of  
14 them. So the concern is that if we have something  
15 that we think is safe and we're prescribing it like  
16 water, how is that going to change the rates of  
17 tramadol abuse?

18 For example, is that an introduction to  
19 other opioids? Because as an addiction medicine  
20 practitioner, I also find that a lot of people  
21 didn't start with heroin or oxycodone, and  
22 something that was perceived to be safer was what

1 actually got them started on the path to opioid  
2 addiction.

3 DR. LITMAN: Dr. Hoffer, did you still have  
4 a point?

5 DR. HOFFER: I think the data shows that it  
6 was oxy that got people started to move to heroin.  
7 I appreciate that low-dose opiates are dangerous.  
8 I think all opiates are dangerous, and I think  
9 there's a linear relationship in their danger and  
10 how potent they are. For me at least, if we're  
11 going to have an opiate on the market that's being  
12 used for acute pain in these circumstances, I'd  
13 like it to be one that has the lowest MME possible.

14 I don't want any opiates prescribed. That's  
15 the ideal. No opiate prescription is the best way  
16 to avoid any complications associated with opiates,  
17 but that's just simply not what happens. So I  
18 think here, whether it's a replacement for  
19 tramadol -- and maybe there are some other effects  
20 with the Celebrex, but I think that it's so low  
21 that I'm not sure this committee would  
22 approve -- or the FDA would approve any opiate

1 medication. What if it's 2 MME; is that  
2 acceptable? It's still going to pose some risks.

3 So I think it's the relative risk that I  
4 think is important to me. I don't want people on  
5 Percocet or Vicodin, or even Ultram, if they can be  
6 on something that has less opiate medications, an  
7 active ingredient, is all I'm saying. And I do  
8 think that people do progress. They progress to  
9 higher doses of opiates. That's how you have  
10 tolerance to these drugs, and there are some  
11 withdrawal symptoms associated with them. But as  
12 you go up in MME, those things get much greater and  
13 are much more motivating as far as using the  
14 escalating doses, and abusing, and misusing drugs.

15 DR. LITMAN: Thanks. I'm going to have to  
16 stop there in the interest of time. I just want to  
17 try and sum up what are a lot of very divergent  
18 views.

19 On one hand, I heard some of the pros,  
20 meaning the good aspects of the public health uses  
21 of this drug, meaning that it's better than  
22 existing, than what's out there, and there are

1 relatively lower rates of abuse. But on the other  
2 hand, I heard some panelist members that were  
3 concerned about the potential for IV abuse,  
4 concerned about what do we do about rescue  
5 medications in the postoperative acute pain  
6 setting; polymorphisms that could impact either  
7 those patients that may not get enough relief, or  
8 on the opposite end of the spectrum may have a  
9 relative overdose without realizing it.

10 I heard some concerns about maybe the  
11 recognition that these two drugs might be  
12 beneficial together could lead people to using the  
13 generic products separately more often than not.  
14 Then finally, although it's not the specific  
15 purview of the FDA that was mentioned, we all know  
16 that when a combination drug goes on the market,  
17 it's vastly more expensive than the two generic  
18 drugs alone.

19 Again, I apologize. We're kind of tough on  
20 time today because we have another meeting this  
21 afternoon, and we need to really end the vote by  
22 12:30. So I'd like to go to the next question.

1           Discuss whether the benefits outweigh the  
2 risks for the proposed indication and discuss if  
3 any additional data are needed for this application  
4 to be approved. Again, I ask the committee to  
5 state your name first and keep your answers short  
6 without a lot of circumlocution. I've been waiting  
7 to use that word, by the way.

8           MALE VOICE: Can you say that again?

9           (Laughter.)

10          DR. LITMAN: Dr. Higgins?

11          DR. HIGGINS: Jennifer Higgins. My  
12 interpretation of the data presented are that there  
13 really is no indication that CTC has a substantial  
14 advantage over any other approved analgesics on the  
15 market. I'm also concerned about the special  
16 populations and would like to see some additional  
17 data analysis on those, meaning the people who have  
18 preexisting conditions of mental health concerns or  
19 substance-use disorders.

20          DR. LITMAN: Dr. Meisel?

21          DR. MEISEL: Steve Meisel. We talked about  
22 some of the risks before. I go back to the fact

1 that this drug isn't all that terribly effective.  
2 I look at FDA table 7, in the FDA briefing packet,  
3 any rescue medication, anytime, 76 percent, and  
4 that compares to 83 percent for tramadol and 88  
5 percent for Celebrex; within the first 4 hours, 49,  
6 61, 65. I mean, it's a little better but not a  
7 whole lot. So that tells me that you need  
8 breakthrough pain relief of some type, and it's  
9 going to be Tylenol, it's going to be oxycodone,  
10 it's going to be something, and then you end up  
11 with added risks associated with that.

12 The fact that we don't have a comparator  
13 trial of this drug versus the two ingredients  
14 separately combined, as individual ingredients  
15 combined, to me is a flag. I can't say this is  
16 more effective or less effective than just giving  
17 tramadol and celecoxib in individualized doses, in  
18 full doses, and see what happens. To me, that  
19 would be the data that we would need in order to  
20 satisfy any question that this drug is actually  
21 effective.

22 DR. LITMAN: Thank you. Dr. Goudra?

1 DR. GOUDRA: Basavana Goudra. I was going  
2 to repeat what Dr. Meisel said; unless you do one  
3 more studies where you try both tramadol and  
4 celecoxib on their own and prove that it works  
5 better than just celecoxib alone or tramadol alone,  
6 yes, it's going to be tough.

7 DR. LITMAN: Dr. Hernandez-Diaz, please?

8 DR. HERNANDEZ-DIAZ: Sonia Hernandez-Diaz.  
9 I was trying to put the pros and cons as you were  
10 doing. In the pros, I have a slightly different  
11 reading of the evidence presented, which I think  
12 shows comparison with active ingredients within the  
13 component, and I particularly like that part of the  
14 trials. The sponsor included super doses.

15 I like the idea of the combination because  
16 the average efficacy in the population, where there  
17 might be some response to opioids and some to  
18 NSAIDs, is kind of covert [indiscernible], so I  
19 think it is fair game that some patients will be  
20 responding to the celecoxib part and some to the  
21 opioid. We know that not all patients will respond  
22 to any opioid, and by doing so, they may be less

1 likely to keep increasing doses of opioids.

2 I also like the fewer pills if patients need  
3 both and the practicality benefits that go with  
4 that. From the safety point of view, I think the  
5 delayed tramadol and how it might deter  
6 abuse -- the lower doses that are needed may deter  
7 abuse, and maybe the added NSAIDs and the crystal,  
8 the complications of abusing might also reduce  
9 abuse.

10 I also liked the emphasis on the acute  
11 indication versus the long-term claims for the use  
12 of opioids. That increases the efficacy because we  
13 see not perfect but more efficacy with acute use.  
14 It also reduces, as indicated, the safety concerns  
15 including for the COX-2; the time is going to be  
16 lower in any case. I think that makes it a good  
17 alternative to Schedule II opioids overall, and  
18 oxycodone in particular, not only to tramadol  
19 alone.

20 Finally, in terms of pros, I see that the  
21 two components are approved already with the  
22 contraindications and with the birth defects that

1 are known. So I think the combination, by lowering  
2 doses, if anything, it has been shown to improve  
3 it. But of course in the cons, we have the  
4 opioids, and with the opioids, doing bad things  
5 with them and increasing the doses, we are always  
6 going to probably have concerns of the use and  
7 misuse.

8 So the way I'm looking at that is if we can  
9 have utilization of these new products as a  
10 replacement of things like oxycodone or for  
11 Schedule II, then it will be a benefit. If it is  
12 an added use, then it will not. I cannot predict  
13 the future, but that's the way I was looking at it,  
14 is maybe we can use it as a replacement. And  
15 finally, in terms of the concerns, there are  
16 concerns that have been raised about the  
17 combination and if the crystal is going to create  
18 any additional problems.

19 DR. LITMAN: Thank you. Dr. Block?

20 DR. BLOCK: Yes. This is Laura Block. A  
21 while back, we lost Darvocet, which was one of the  
22 shallow end of the pool sort of opiates for

1 moderate pain. This could be something that would  
2 go very nicely in that open spot, but we would want  
3 to get data about the solubility and maybe some  
4 animal studies for IV use.

5 DR. LITMAN: Thank you. Dr. Garcia?

6 DR. GARCIA-BUNUEL: I'm going to be really  
7 brief and not comment because --

8 DR. LITMAN: Just say your name first;  
9 sorry.

10 DR. GARCIA-BUNUEL: -- they've been  
11 repeated. Martin Garcia-Bunuel. Points have been  
12 made.

13 DR. LITMAN: Thank you. Dr. Setoguchi  
14 Iwata.

15 DR. SETOGUCHI: Soko Setoguchi. To me,  
16 since the individual medication is already  
17 available on the market, the real benefit of this  
18 drug has to do with the co-crystal and then has to  
19 be compared to the two individual drugs taking  
20 together, either showing less side effects or more  
21 effectiveness. So to me, the benefit is not really  
22 proven in the trial.

1           In terms of the risks, I don't think the  
2 combination drug would pose more risk than the  
3 individual drugs taken together based on the data  
4 presented. At the same time, though, on the public  
5 health side, the sort of unknown aspect of these  
6 crystals, I think at least in animal models or in  
7 vitro, when injected as an IV drug, that might have  
8 to be proven at least safe or not, behaving  
9 unexpectedly.

10           DR. LITMAN: Thank you. Dr. Shoben?

11           DR. SHO BEN: I just wanted to say that I  
12 actually think the benefits of this drug have been  
13 pretty well shown in the clinical trial data, and  
14 the concern about not looking at the co-crystal  
15 versus the two drugs taken together, while it would  
16 have been nice, the pharmacokinetic data really  
17 suggests that there's no reason to expect a  
18 difference, and the two together would give you  
19 that much of an effect. In fact, the celecoxib  
20 pharmacokinetic data when taken together with  
21 tramadol was a lot lower, so you would expect less  
22 pain management from the two taken together.

1           So between the pharmacokinetic data and the  
2           clinical trial data showing the superiority of the  
3           co-crystal versus tramadol alone, versus celecoxib  
4           alone, I think the benefit is pretty clear.

5           DR. LITMAN: Dr. Horrow?

6           DR. HORROW: Jay Horrow. I think that the  
7           data shown in slide 50 from the sponsor's group  
8           shows very unmistakably that a single phase 3 study  
9           has been sufficient. The p-values are less than  
10          0.001, and that is well within the criteria for a  
11          single phase 3 study. I agree with Dr. Shoben that  
12          the data regarding dissolution are very clear and  
13          show that a comparator group of tramadol and  
14          celecoxib is neither necessary nor insightful in  
15          order to provide clear inference on efficacy. The  
16          q-12 hour dosing is better than q-6 hour dosing of  
17          tramadol. This would be a benefit for patients.

18          DR. SUAREZ-ALMAZOR: Thank you. Ms.  
19          Robotti?

20          MS. ROBOTTI: Hi. Suzanne Robotti. I would  
21          be interested in knowing more about the withdrawal  
22          issues, and if there's a need for titration, how

1 that would be handled. The breakthrough pain  
2 continues to be a big issue with me, how it's  
3 handled. The abuse and misuse effects, we don't  
4 know what we don't know. While I think that  
5 efficacy has been shown, I really would like to see  
6 a study comparing the combination of tramadol and  
7 celecoxib with CTC as a head to head.

8 DR. LITMAN: Dr. Zacharoff?

9 DR. ZACHAROFF: Hi. Kevin Zacharoff. I  
10 would just like to say, from the benefits  
11 outweighing the risks perspective, being that these  
12 are two drugs that have been available for a number  
13 of years, that the ability to consider a  
14 Schedule IV medication at a lower dose in my mind  
15 makes the benefits outweigh the risks. Thank you.

16 DR. LITMAN: Dr. McAuliffe?

17 DR. MCAULIFFE: I'm sort of drawn to the  
18 idea of the low MME and that's very appealing, but  
19 the other part of me is sort of torn. It takes  
20 away the patient's choice, really, to decide  
21 whether they want to use tramadol or not. They  
22 have tramadol and celecoxib. I think that if it

1 was a tramadol single entity that they had the  
2 choice of using with the nonsteroidal, they might  
3 take a half dose, they might take 25 milligrams,  
4 and they may even take less than that. So I think  
5 that making it less flexible for patients may  
6 encourage them to use an opioid where otherwise it  
7 may not.

8 DR. LITMAN: Dr. Marshall?

9 DR. MARSHALL: Brandon Marshall. I agree  
10 with many of the benefits that Dr. Hernandez-Diaz  
11 stated, but one additional benefit, I think, that  
12 hasn't been discussed much is presented on slide 54  
13 of the sponsor. I do see the high rate of rescue  
14 medication is something to consider, but when you  
15 look at the opioid, the oxycodone rescue  
16 medication, it was significantly lower in the CTC  
17 group. I'm assuming those Kaplan-Meier curves are  
18 significantly different, but I think that is a  
19 benefit, that we see less utilization of oxycodone  
20 in the CTC group as a rescue medication.

21 Regarding the risks, I really do feel like  
22 the risks of injection of this substance are low,

1 and this is from someone who's been involved in  
2 long-standing cohorts of people who inject drugs.  
3 Reflecting on what Dr. Hoffer said, I just don't  
4 see this as going to be injected very frequently,  
5 and that's shown in the NAVIPPRO and RADARS system.  
6 Now, it probably can and will happen, but I think  
7 that's going to be not very common.

8 DR. LITMAN: Dr. Sullivan?

9 DR. SULLIVAN: I just want --

10 DR. LITMAN: State your name into the  
11 record; sorry.

12 DR. SULLIVAN: -- Patrick Sullivan. I think  
13 there's been some discussion about efficacy, and I  
14 also just want to say that I rely on the primary  
15 endpoint analysis that I think demonstrates the CTC  
16 as being superior to tramadol and celecoxib. In  
17 terms of the public health benefit, just the idea  
18 that it is a lower dose, that more pain relief is  
19 available with lower tramadol plasma concentration,  
20 seems to me to be lowering the cumulative burden in  
21 exposure. I'm also motivated by the lower use of  
22 opioid rescue medications. I also weigh this as

1 the benefits outweighing the risks.

2 DR. LITMAN: Professor Phillips?

3 MS. SHAW PHILLIPS: Marjorie Shaw Phillips.

4 The real unanswered question as far as comparisons  
5 is CTC versus full-dose celecoxib. The celecoxib  
6 was not dosed optimally with a loading dose.

7 DR. LITMAN: Thank you. Dr. Zeltzer?

8 DR. ZELTZER: I guess one public health risk  
9 that hasn't been addressed is just as other  
10 combinations in the past, Tylenol with codeine,  
11 ended up being used for chronic pain in a  
12 subpopulation, we don't have any longitudinal data  
13 besides the 48 hours. From a public health  
14 standpoint, if this is available, will it be used  
15 chronically for people with chronic pain? Because  
16 as a new combo drug, it's seen as safer in higher  
17 doses than what was recommended. So I still see it  
18 as a potential public health risk and, again, a  
19 difference in metabolism between celecoxib and  
20 tramadol.

21 DR. LITMAN: Thank you. Dr. Setoguchi  
22 Iwata.

1 (Laughter.)

2 DR. SETOGUCHI: Soko Setoguchi. I have two  
3 last names like Sonia. I just want to echo and  
4 also follow up that the fact that this is a  
5 combination drug makes us prescribers difficult to  
6 drop one drug component. If we started celecoxib  
7 and then tramadol at the same time, if it's a  
8 separate drug, it's easier to drop one once the  
9 patient's pain is controlled. But that's also  
10 another concern that it might encourage continuous  
11 use of both drugs, including tramadol, and may  
12 increase more of an addiction population.

13 DR. LITMAN: Thank you. I think that's it.  
14 I'll just add my own two cents here at the end.  
15 Over the years, I've seen a pretty substantial  
16 decrease in the amount of opioids that my surgeons  
17 have prescribed, my personal practices in  
18 anesthesia, and I work with my surgeons pretty  
19 closely. Although it's mostly children, there are  
20 an awful lot of teenagers, too, so they've really  
21 been limiting it.

22 Other than the usual combination of Tylenol

1 with oxycodone or hydrocodone, my surgeons don't  
2 really prescribe any kind of combination medicines,  
3 and if I ask them what do you think about tramadol  
4 or celecoxib, they would be like, "What are those?"  
5 So I can't imagine them ever adopting this  
6 medication if it were approved unless they had a  
7 lot more efficacy data that's published in their  
8 literature. I also fear that if it's perceived as  
9 a less, or morphing light, or whatever you want to  
10 call it, a less abusive or a less potent opioid,  
11 that they might be more likely to prescribe it in  
12 opposition to just not giving opioids at all.

13 On the other hand, there are so many  
14 advantages here that we've already talked about, so  
15 I'm just going to try and sum up the discussion  
16 here. Again, I've heard a lot on both sides. The  
17 pros were that it's a better alternative than the  
18 usual with lower MMEs and less abuse potential;  
19 that the phase 3 study was sufficient; that the  
20 q-12 and the combination would be more convenient  
21 for patients; and that the overall benefits  
22 outweigh the overall risks.

1           But on the other side, I heard that there  
2           was no evidence of clear advantages in introducing  
3           another kind of opioid into the market. There were  
4           not different populations studied well enough; that  
5           the phase 3 trial was missing the ultimate  
6           comparator, which is the two drugs in combination  
7           by themselves. I'm not sure if that makes sense,  
8           but both the tramadol and the celecoxib given  
9           together, just not in this particular CTC  
10          preparation.

11           Did I get everybody's -- did I sum that up  
12          correctly?

13           (No audible response.)

14           DR. LITMAN: Great. Now we're going to head  
15          over to the votes. We will be using the electronic  
16          voting system for this meeting. Once we begin the  
17          vote, the buttons will start flashing and will  
18          continue to flash even after you have entered your  
19          vote. Please press the button firmly that  
20          corresponds to your vote. If you are unsure of  
21          your vote or if you wish to change your vote, you  
22          may press the corresponding button until the vote

1 is closed.

2 After everyone has completed their vote, the  
3 vote will be locked in. The vote will then be  
4 displayed on the screen. The DFO, Moon, will read  
5 the vote from the screen into the record. Next, we  
6 will go around the room and each individual who  
7 voted will state their name and vote into the  
8 record. You can also state the reason why you  
9 voted as you did if you want to. We will continue  
10 in the same manner until all questions have been  
11 answered or discussed.

12 I guess there's just only one voting  
13 question; is that correct? The one voting question  
14 we have before us is do you recommend the FDA  
15 approval of tramadol 44 milligrams and celecoxib  
16 56-milligram tablets for the proposed indication in  
17 the management of acute pain in adults that is  
18 severe enough to require an opioid analgesic and  
19 for which alternative treatments are inadequate?

20 This is different from yesterday. Thank  
21 you.

22 (Laughter.)

1 DR. LITMAN: Yes, no, or abstain.

2 (Voting.)

3 DR. LITMAN: I'm told that the votes are in,  
4 and they'll appear on the screen. Everyone has  
5 voted. The vote is now complete.

6 (Laughter.)

7 DR. CHOI: For the record, we have 13 yes,  
8 13 no, and zero abstentions.

9 DR. LITMAN: Now that that the vote is  
10 complete, we will go around the table and have  
11 everyone who voted state their name, vote, and if  
12 you want to, you could state the reason why you  
13 voted as you did into the record. Please be  
14 cognizant that I will have to adjourn by 12:30.

15 Who is first? I guess that's Professor  
16 Phillips.

17 MS. SHAW PHILLIPS: Marjorie Shaw Phillips.  
18 The vote reflects the way I feel about this, half  
19 empty versus half full. I voted yes because I see  
20 a benefit over what is happening now for the people  
21 that now are getting the two sole ingredients with  
22 the interaction being less effective therapy, and

1 the potential advantage of the co-crystal with  
2 optimal dosing like scheduled acetaminophen being  
3 better than what's happening right now. I do have  
4 concerns about IV drug abuse and overuse of this  
5 product.

6 DR. GARCIA-BUNUEL: Martin Garcia-Bunuel. I  
7 voted yes. In all fairness, I think the data  
8 presented for its proposed use did just that; I  
9 understand maybe not as robust as some would like.  
10 I see the potential for it in the practice settings  
11 that I'm familiar with, potentially replacing use  
12 of some other short-acting opioids.

13 My only qualifying statement, and I'll try  
14 to keep it short, would be that I do think we have  
15 to be cognizant of the potential, or not just the  
16 potential but the reality that this medication will  
17 sneak out of the perioperative setting. It will  
18 enter the emergency department, primary care  
19 settings, and other ambulatory care settings.

20 I have some confidence. As Dr. Zaafran has  
21 commented, I think we are in a better place for  
22 monitoring and oversight of prescribing practices

1 within medical staffs, medical systems, and states,  
2 but having said that, there will have to be  
3 diligence. Then finally, I think if we can be  
4 informed in the future more about the potential for  
5 abuse and risks related to unintended long-term  
6 use, we need that partnership from industry to  
7 really take that seriously. Thank you.

8 DR. GREEN: Traci Green. I voted yes. I  
9 felt that the data that were presented indicated a  
10 greater benefit with this drug than with the  
11 component APIs and that there was greater risk of  
12 the component APIs on their own, especially with  
13 respect to abuse and misuse. I think the public  
14 burden for tramadol per prescription, even though  
15 we are seeing rising increases in prescribing of  
16 tramadol, in circulation, we see lower emergency  
17 department visits and fewer deaths associated with  
18 this particular molecule.

19 I think we can anticipate an even lower rate  
20 with this product given the lower API and the lower  
21 MME. Drug liking from other human abuse potential  
22 studies of tramadol indicate that it's the least

1        liked opioid, and the postmarketing surveillance  
2        data have for many, many years shown that it has  
3        very low rates of misuse and nonmedical use,  
4        including injection as a route of administration.

5                I spend most of my time working with people  
6        who use drugs and people injecting, and this is not  
7        very interesting postmarketing surveillance, and  
8        studies would be really helpful, I think, on the  
9        molecule, but it does look like something that  
10       could be helpful, identified, and designed in a  
11       postmarketing environment and should be done for  
12       public health.

13               DR. HOFFER: This is Lee Hoffer. I voted  
14       yes for the reasons that have already been stated,  
15       primarily. But I do want to say that I think this  
16       medication does pose risks like all opiate  
17       medications. I just think in this particular  
18       indication it's as about a low amount of opiate  
19       medication that you can have in a medication.

20               DR. MICHNA: Ed Michna. I voted no, and it  
21       was purely based on the fact there's not much  
22       flexibility with this drug. My concern of what to

1 do for those patients that are going to have  
2 breakthrough pain with this, there's no clarity on  
3 how to approach it other than the study itself,  
4 which was oxycodone, and that's not going to help  
5 us by giving patients both these drugs.

6 DR. SETOGUCHI: Soko Setoguchi. I voted no.  
7 I think I already mentioned the reason, that I  
8 don't think the trial reflected the real-world use  
9 of a medication where already the individual drugs  
10 are available on the market. Whether or not to use  
11 a combination using each individual versus this  
12 combo, a benefit should be proven against the two  
13 drugs taken together.

14 DR. McCANN: Mary Ellen McCann. I voted no.  
15 My concern is although the abuse potential may be  
16 lower than other narcotics, there will be people  
17 that will abuse this, and we have absolutely no  
18 information about what happens to those people if  
19 they do inject this drug. We actually don't even  
20 know if it's injectable. So that's why I voted no.

21 DR. ZACHAROFF: Kevin Zacharoff. I voted  
22 yes, and from my personal perspective, I'd like to

1       congratulate the sponsors on their presentation. I  
2       think it was a very comprehensive presentation and  
3       made a good case for me about how a Schedule IV  
4       medication could be combined with non-opioid  
5       medications to provide adequate relief.

6               I do fully expect that this will be used in  
7       settings other than postoperative pain management,  
8       which in my mind is actually a good thing. I think  
9       philosophically, sitting on this committee, if we  
10      take into account the fact that Schedule IV means  
11      what it means with respect to abuse and misuse, we  
12      may be very nervous about abusing future  
13      Schedule II medications in the absence of data.  
14      But if somebody brings to us a situation where we  
15      have an opioid-sparing formulation of a Schedule IV  
16      medication, in 2020, this is as good as it gets for  
17      me. Thank you.

18             DR. McAULIFFE: Maura McAuliffe. I voted no  
19      mostly because we've heard that tramadol is the  
20      second most dispensed opioid in the United States.  
21      It is abused and misused mostly orally. There's  
22      concern that this drug would be misused in that way

1 as well. Forty percent of the misused tramadol is  
2 the multiple entity product as this would be, so I  
3 think we could plan on this actually taking the  
4 place of the most abused tramadol that's out there.  
5 It is a lower MME, and I think that that is  
6 appealing as I said, but I think that takes away  
7 choices for patients in a lot of ways. So for me,  
8 no.

9 DR. ZELTZER: Hi. Lonnie Zeltzer. I voted  
10 no more for the public health hazard. Besides what  
11 was mentioned about not knowing IV toxicity, my  
12 worry is that as a new combo drug, it will be seen  
13 as low addictive because of misunderstanding of  
14 Schedule IV, and it will end up being used by the  
15 public for chronic pain. We don't know about the  
16 long-term effects of the combination, especially in  
17 special populations, the elderly and those with  
18 CVAs on baby aspirin and on SSNRIs, just all the  
19 potential risks for its chronic use. So I would  
20 like to see a little more testing in other  
21 populations first.

22 DR. GOUDRA: Basavana Goudra. I voted no

1 because I really want to see a head-to-head  
2 comparison as I mentioned during the discussion.

3 DR. LITMAN: Ron Litman. I voted yes. I  
4 don't envy your discussions. Sorry guys, but  
5 overall I just felt that the benefits outweigh the  
6 risks. As I mentioned before, I predict -- and I'm  
7 not very good at predictions -- this will not be  
8 very useful in the immediate postoperative period.  
9 It takes too long and it may be too weak. I agree  
10 with Dr. Michna that there's going to have to be  
11 some rescues, and once my surgeons figure that out,  
12 they're just going to stop prescribing it.

13 I don't think that the risks outweigh the  
14 ability of the company to put it out into the  
15 marketplace and let doctors choose for themselves  
16 whether or not to study it further for their  
17 patients. I think there's been a lot of publicity  
18 about the cost of combination medications in  
19 comparison to the generics, and you know what, the  
20 insurance companies are going to have to figure  
21 that out and cover it if they think it's worth it.  
22 So overall, I just thought the benefits outweighed

1 the risks.

2 DR. HERNANDEZ-DIAZ: Sonia Hernandez-Diaz.  
3 I voted yes as an alternative to opioids in a  
4 Schedule II and not to alternative treatments that  
5 should be the first line. I think the vote  
6 reflects -- between person balance, so sorry about  
7 that.

8 DR. SHOBNEN: Abby Shoben. I voted yes. I  
9 talked about the benefits earlier. I stand by  
10 that. I think the remaining concern I have is  
11 about what Dr. Marshall talked about, which is this  
12 product going to be a replacement for existing  
13 Schedule II opioids that are prescribed in this  
14 acute pain setting or is it going to expand the  
15 market and give opioids to patients who are not  
16 currently getting them? I think that's something  
17 to look at postmarketing.

18 DR. MEISEL: Steve Meisel. I voted no.  
19 We've already talked about the lack of data about  
20 abuse if it was injected and what new risks might  
21 be imposed there. We need to have that data before  
22 I'd feel comfortable approving this. The same is

1 true with the lack of any comparative data to the  
2 single ingredients. I think that's a baseline, but  
3 something that could be done by the sponsor, if  
4 they desire, relatively easily.

5 One other comment that we haven't talked  
6 about before that I think we need to be aware of is  
7 that this 56/44 ratio is not based on any clinical  
8 science or that that's the right ratio. It's based  
9 on the fact that that's the chemical properties to  
10 allow them to make this crystal. That's what's  
11 driving the ratio of the celecoxib versus tramadol,  
12 not anything clinical. Is that the right  
13 combination? Is that the right ratio? Who knows?  
14 We don't know that, but we're sort of locked into  
15 that based on the chemical properties.

16 All of that said, if the agency goes ahead  
17 and approves this, I think the risks become almost  
18 zero because although it's beyond the scope of what  
19 the FDA needs to consider here, I can't think of a  
20 single third-party payer, insurance company, or  
21 hospital that's going to put this product in their  
22 formulary. This will be paid only out of pocket,

1 and out-of-pocket costs for drugs, with insurance  
2 going the way it is, it's just not going to be  
3 tenable. No third-party payer, no PBM, no  
4 hospital's going to put this on their formulary  
5 when they have the individual ingredients,  
6 particularly in the absence of any data to show  
7 that this is superior to using those drugs as  
8 individual ingredients combined.

9 DR. HIGGINS: Jennifer Higgins. I voted no  
10 for the reasons that I stated previously.

11 MS. ROBOTTI: Hi. Suzanne Robotti. I voted  
12 no, reluctantly. If this was going to be  
13 prescribed to me tomorrow, I would use it. I would  
14 be in favor of using it. But I cannot rush to  
15 approval because in looking at the totality of the  
16 public health issues and the clinical questions  
17 that just are not answered -- how to handle  
18 breakthrough pain, titration, the lack of IV abuse,  
19 risk, and the lack of information on the long-term  
20 effects -- could all cause a public risk and a  
21 burden that I'm unwilling to vote for. I'd also  
22 like to see acetaminophen tested as a rescue drug.

1 DR. BLOCK: This is Laura Block. I voted  
2 yes. I believe there is a need for it in the box  
3 of tools that we use for pain, and I believe that  
4 coming out of the postoperative setting, patients  
5 could be loaded as they wake up with something such  
6 as maybe IV Tylenol, or a nonsteroidal, or even  
7 just a single dose of an opiate, then that way they  
8 get this and have smooth sailing, and they go home.  
9 Thank you.

10 DR. AMIRSHAHI: Maryann Amirshahi. I voted  
11 no. The reason that I voted no was I didn't feel  
12 that the comparisons were appropriate with regard  
13 to the celecoxib dosing, as well as the lack of  
14 combination. I don't think that they really  
15 demonstrated a benefit over what is on the market  
16 with a concern for increased costs. I do feel that  
17 it is less risk for abuse than a lot of the other  
18 products that we have out there, but I'm worried  
19 that we would have a false sense of security with  
20 regard to that it's safe but there is still a risk;  
21 then finally, the lack of titratability that you  
22 have with individual agents. Thank you.

1 DR. PISARIK: My name is Paul Pisarik, and I  
2 voted yes, more for the fact that maybe this might  
3 take the place of Schedule II narcotics, and  
4 therefore be less risk.

5 DR. SANDBRINK: I'm Friedhelm Sandbrink. I  
6 was very much split. I voted no, but like this, I  
7 felt very much split about this. I think what  
8 swayed me towards the no was the lack of  
9 comparators that we talked about, but also in  
10 particular, a comparator to a higher dose  
11 nonsteroid such as celecoxib for the immediate  
12 postoperative period because that is, I think, a  
13 relevant comparison clinically. In regard to the  
14 public health concerns, I think it's a wash, so I  
15 think we cannot predict that.

16 DR. ZAAFRAN: Sherif Zaafran. I voted yes.  
17 I always hear that there's a lot of concerns about  
18 long-term use. Again, as a regulator, one of the  
19 things that we have been focusing on is making sure  
20 that physicians are prescribing things  
21 appropriately. So where folks used to prescribe  
22 medications for 30 days and with 6 refills, we hold

1 those folks accountable either by state law where  
2 they're not allowed to do that anymore, or if they  
3 are allowed to, if they're brought before  
4 regulators, we tell them that this is outside the  
5 standard of care.

6 From the standpoint of looking at an opioid,  
7 any opioid is going to have a potential for abuse,  
8 but if you limit the way it's prescribed to where  
9 it needs to be prescribed appropriately, in an  
10 acute postoperative setting, then this is a safer  
11 alternative for the Schedule II drugs that are out  
12 there.

13 As was mentioned earlier, if you are going  
14 to prevent patients from actually having pain  
15 before you start utilizing the medication, you're  
16 probably not going to use the rescue medication as  
17 much as you need. Right now, we have medications  
18 prior to surgery and immediately afterwards, so you  
19 have the nerve blocks that are working, and kicking  
20 in, and so forth, so you're not waiting until they  
21 have a score of 8 before you're actually medicating  
22 these folks. So I see less of a need for rescue

1 medication as much as folks actually think there  
2 would be, so I think there's actually a little bit  
3 less of a public health risk with this.

4 DR. SUAREZ-ALMAZOR: Maria Suarez-Almazor.  
5 I voted no. Celecoxib and tramadol are already on  
6 the market, and they are available for providers  
7 that may want to use both drugs. I think that  
8 there was not enough evidence to show that the  
9 compound is better with respect to pain, efficacy,  
10 or as a deterrent for abuse than both independent  
11 drugs used together.

12 I see the benefits primarily and convenience  
13 of taking one pill instead of two pills, but those  
14 were not enough in my view for a positive  
15 risk-benefit ratio, as I am concerned primarily  
16 about two things. One is the lack of data on IV  
17 use if this drug were to be misused and abused in  
18 that way, and the other one is the concern of using  
19 high doses of NSAIDs, of celecoxib, if this drug  
20 were used at a higher dose than recommended,  
21 especially in susceptible populations.

22 DR. SULLIVAN: Patrick Sullivan. I voted

1       yes, and I was primarily persuaded by the  
2       possibility of having opioid sparing and a lower  
3       cumulative dose if this replaces Schedule II  
4       options. I really respect the perspectives of  
5       different providers, some of whom felt like there  
6       are ways to manage this so it is used in that way  
7       and some of whom felt like it might not be.

8               I also just want to emphasize that when we  
9       talk about the comparison with the two components,  
10       this is a different formulation. The co-crystal,  
11       to me, was demonstrated to have some different PK  
12       advantages that would not accrue to using the two  
13       things separately.

14              DR. MARSHALL: Brandon Marshall. I voted  
15       yes for many of the reasons that have been  
16       described. I agree with the comment about the  
17       interesting pharmacokinetic profile. I feel,  
18       overall, this represents an incremental but  
19       important approach to addressing the opioid crisis  
20       in the United States, particularly if the  
21       medication were used in replacement of Schedule II  
22       opioids, which I did hear some support from

1 clinical panel members, although that was not  
2 consistent. But, overall, I feel like the benefits  
3 outweigh the risks.

4 DR. TYLER: This is Linda Tyler, and I voted  
5 no. I too want to compliment the company on the  
6 clarity of their presentation of data. As we all  
7 know, presenting data is challenging. I found  
8 their study credible. I agree with Abby that it  
9 didn't make sense to study the combination together  
10 based on their earlier trials. I believe in the  
11 trial what they showed is that they were able to  
12 decrease the dose of tramadol that was used,  
13 decrease the oxycodone rescue, and there was a  
14 delay in the peak of the tramadol.

15 I'm very intrigued with the crystalline  
16 dosage form and would like to see more about it,  
17 but I am concerned about some other things. We  
18 should not be fooled that this is rated a  
19 Schedule IV. This is an opioid agonist, and it has  
20 other properties as well. As was so well  
21 articulated in the public comments, tramadol is a  
22 tough drug to use. It has some different side

1 effect profiles. It's different than many of the  
2 other opioids that we work with. It can be erratic  
3 in its response.

4 What we saw actually in their 201 trial is  
5 that tramadol alone was not a very good pain  
6 reliever; that together the combination was better.  
7 So I'm unclear if celecoxib, if used alone and  
8 especially if you are able to titrate doses, would  
9 look better than this dosage form that we're  
10 considering today.

11 I also have a concern that would have to be  
12 addressed at some point if the drug went forward  
13 around a patient safety issue. This drug is being  
14 labeled as 100 milligrams, but it's 100 milligrams  
15 of two different components. Well, we also have  
16 100 milligrams of the celecoxib on the market; we  
17 have 100 milligrams of tramadol on the market. I  
18 think there are opportunities for confusion,  
19 opportunities for confusion in our electronic  
20 medical record systems.

21 As we look at the abuse potential, I feel  
22 like there are some unanswered questions there that

1 we'd want a little more information about, but I  
2 recognize that this is a huge challenge. We're in  
3 the landscape of an opioid crisis, so we're all  
4 very, very concerned about that. We're asking for  
5 data that we would not ordinarily ask for of this  
6 product, and the trends are not really elucidating,  
7 and don't really help us, and don't really help us  
8 since, but what we see is a decrease in oxycodone  
9 prescribing, which is a great trend, but what's not  
10 overlaid on all the charts that we saw is we also  
11 see an increase in heroin use in the United States.  
12 Tramadol is part of that mix of another compound  
13 that people are going to -- and you saw that  
14 increased percentage of what was being used.

15 So it's really hard to pick it out of the  
16 trends, but I'm really, really concerned for those  
17 reasons.

18 DR. LITMAN: Thank you. In the last minute  
19 and a half that we have, can I ask Dr. Horrow or  
20 Dr. Mehta, do you have any less than one minute  
21 comments even though you weren't voting?

22 DR. MEHTA: Thank you. I think one of the

1 points that maybe didn't come across through some  
2 of our conversation also is that this is a  
3 505(b)(2) application, so some of the expectations  
4 for what the sponsor would need to demonstrate  
5 through a 505(b)(2) application are not one in the  
6 same with the 505(b)(1) application. I know that  
7 sounds a little technical.

8 From my perspective, I think that in this  
9 case, there is a favorable benefit-risk profile,  
10 and if there is interest by the committee members  
11 around more data regarding the potential risk with  
12 IV administration, I think that's something that  
13 could be achieved through a post-approval  
14 requirement if that's where FDA felt things were  
15 necessary.

16 DR. LITMAN: Jay, any last comment in  
17 20 seconds?

18 DR. HORROW: Jay Horrow. I'll just  
19 underscore what Reema said because if you took the  
20 number of no votes that I heard that were based on  
21 the IV issue, and if the sponsor is then able to  
22 show dissolution data in various solvents to show



1 cleared. But that's not the end of the meeting  
2 day, so that's up to your discretion. We will now  
3 adjourn the meeting and meet back here in exactly  
4 one hour.

5 (Whereupon, at 12:30 p.m., the morning  
6 session was adjourned.)

7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22