

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ONCOLOGIC DRUG ADVISORY COMMITTEE (ODAC)

Wednesday, February 26, 2020
8:00 a.m. to 12:11 p.m.

Morning Session

FDA White Oak Campus
Building 31, the Great Room
10903 New Hampshire Avenue
Silver Spring, Maryland

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Meeting Roster

DESIGNATED FEDERAL OFFICER (Non-Voting)

Lauren Tesh Hotaki, PharmD, BCPS, BCIDP

Division of Advisory Committee and

Consultant Management

Office of Executive Programs, CDER, FDA

ACTING DESIGNATED FEDERAL OFFICER (Non-Voting)

ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)

Massimo Cristofanilli, MD, FACP

Associate Director of Translational Research and

Precision Medicine

Robert H. Lurie Comprehensive Cancer Center

Chicago, Illinois

Jorge A. Garcia, MD

Former Associate Professor of Medicine

Cleveland Clinic Lerner College of Medicine

Case Western Reserve University

Cleveland, Ohio

1 **Susan Halabi, PhD**

2 Professor of Biostatistics and Bioinformatics

3 Duke University Medical Center

4 Durham, North Carolina

5

6 **Christian S. Hinrichs, MD**

7 Investigator & Lasker Clinical Research Scholar

8 Experimental Transplantation and

9 Immunology Branch

10 National Cancer Institute (NCI)

11 National Institutes of Health (NIH)

12 Bethesda, Maryland

13

14 **Philip Hoffman, MD**

15 *(Chairperson)*

16 Professor of Medicine

17 The University of Chicago

18 Section of Hematology/Oncology

19 Department of Medicine

20 Chicago, Illinois

21

22

1 **Heidi D. Klepin, MD, MS**

2 Professor of Internal Medicine

3 Section of Hematology and Oncology

4 Wake Forest University Health Sciences

5 Winston Salem, North Carolina

6

7 **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBER**

8 **(Non-Voting)**

9 **Jonathan D. Cheng, MD**

10 *(Industry Representative)*

11 Vice President and Oncology Therapeutic Area Head

12 Merck Research Laboratories, Oncology

13 Clinical Research

14 North Wales, Pennsylvania

15

16 **TEMPORARY MEMBERS (Voting)**

17 **Randy W. Hawkins, MD**

18 *(Acting Consumer Representative)*

19 Department of Internal Medicine

20 Internal Medicine/Pulmonary & Critical Care

21 Charles R. Drew University of Medicine and Science

22 Los Angeles, California

1 **Maha Hussain, MD, FACP, FASCO**

2 *(Morning Session Only)*

3 Genevieve Teuton Professor of Medicine

4 Division of Hematology/Oncology

5 Deputy Director

6 Robert H. Lurie Comprehensive Cancer Center

7 Northwestern University Feinberg School of

8 Medicine

9 Chicago, Illinois

10

11 **Terrence "Terry" Kungel, MBA**

12 *(Patient Representative; Morning Session Only)*

13 Woolwich, Maine

14

15 **Danil V. Makarov, MD, MHS**

16 *(Morning Session Only)*

17 Associate Professor of Urology, Population

18 Health, and Health Policy

19 New York University School of Medicine

20 New York, New York

21

22

1 **Tracy G. Matson**

2 *(Patient Representative; Afternoon Session Only)*

3 Little Rock, Arkansas

4

5 **Brian I. Rini, MD**

6 *(Morning Session Only)*

7 Chief, Clinical Trials

8 Vanderbilt-Ingram Cancer Center

9 Ingram Professor of Medicine

10 Vanderbilt University

11 Nashville, Tennessee

12

13 **Howard Sandler, MD, MS, FASTRO, FASCO**

14 *(Morning Session Only)*

15 Professor and Chair, Department of

16 Radiation Oncology

17 Cedars-Sinai Medical Center

18 Los Angeles, California

19

20

21

22

1 **Mohammad Minhaj Siddiqui, MD, FACS**

2 *(Morning Session Only)*

3 Associate Professor of Surgery

4 Director, Urologic Oncology

5 University of Maryland School of Medicine

6 Chief of Urology

7 Veterans Affairs Maryland Healthcare System

8 Baltimore, Maryland

9

10 **Daniel Y. Song, MD**

11 *(Morning Session Only)*

12 Co-Director, Prostate Cancer

13 Multidisciplinary Clinic

14 Professor of Radiation Oncology and Molecular

15 Radiation Sciences

16 Johns Hopkins Hospital

17 Baltimore, Maryland

18

19

20

21

22

1 **Patrick C. Walsh, MD**

2 *(Morning Session Only)*

3 University Distinguished Service Professor Emeritus

4 James Buchanan Brady Urological Institute

5 Johns Hopkins Hospital

6 Baltimore, Maryland

7

8 **FDA PARTICIPANTS (Non-Voting)**

9 **Richard Pazdur, MD**

10 Director, Oncology Center of Excellence (OCE)

11 Acting Director, Office of Oncologic Diseases (OOD)

12 Office of New Drugs (OND), CDER, FDA

13

14 **Paul Kluetz, MD**

15 *(Morning Session Only)*

16 Deputy Center Director, OCE

17 Supervisory Associate Director for Clinical

18 Oncology (Acting)

19 OOD, OND, CDER, FDA

20

21

22

1 **Julia Beaver, MD**

2 *(Morning Session Only)*

3 Director, Division of Oncology 1 (DO1)

4 OOD, OND, CDER, FDA

5

6 **Chana Weinstock, MD**

7 *(Morning Session Only)*

8 Team Leader, Genitourinary Cancers Team

9 DO1, OOD, OND, CDER, FDA

10

11 **Sundeep Agrawal, MD**

12 *(Morning Session Only)*

13 Clinical Reviewer, Genitourinary Cancers Team

14 DO1, OOD, OND, CDER, FDA

15

16 **Xin (Cindy) Gao, PhD**

17 *(Morning Session Only)*

18 Biostatistics Reviewer

19 Division of Biometrics V (DBV)

20 Office of Biostatistics (OB)

21 Office of Translational Sciences (OTS), CDER, FDA

22

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Philip Hoffman, MD	12
5	Conflict of Interest Statement	
6	Lauren Hotaki, Pharm D, BCPS, BCIDP	16
7	FDA Opening Remarks	
8	Chana Weinstock, MD	21
9	Contemporary Diagnosis and Treatment of	
10	Localized Prostate Cancer	
11	Jim Hu, MD, MPH	29
12	Applicant Presentations - STEBA Biotech	
13	Introduction	
14	John Rewcastle, PhD	50
15	Current Management of Clinically	
16	Localized Prostate Cancer	
17	Peter Scardino, MD	54
18	Prostate Hemiablation with TOOKAD VTP:	
19	Procedure and Mechanism of Action	
20	Neal Shore, MD, FACS	61
21		
22		

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C O N T E N T S (continued)

AGENDA ITEM	PAGE
Efficacy and Safety and Confirmatory Study	
Henri Boodée, MD	66
Clinical Perspective	
Inderbir Gill, MD	79
FDA Presentation	
NDA 202578: Padeliporfin Di-Potassium	
Powder for Solution for Injection	
(TOOKAD)	
Sundeeep Agrawal, MD	87
Clarifying Questions to Presenters	106
Open Public Hearing	151
Questions to the Committee and Discussion	178
Adjournment	209

1 P R O C E E D I N G S

2 (8:00 a.m.)

3 **Call to Order**

4 **Introduction of Committee**

5 DR. HOFFMAN: Good morning. I'd first like
6 to remind everyone to please silence your cell
7 phones, smartphones, and any other devices if
8 you've not already done so. I would also like to
9 identify the FDA press contact, Brittney
10 Manchester. If you're present, please stand.
11 Thank you.

12 My name is Philip Hoffman. I'm the
13 chairperson for this meeting. I'll now call the
14 morning's session of today's meeting of the
15 Oncologic Drugs Advisory Committee to order. We'll
16 start by going around the table and introduce
17 ourselves. We'll start with the FDA to my left and
18 go around the table.

19 DR. PAZDUR: Richard Pazdur, director of the
20 Oncology Center of Excellence.

21 DR. KLUETZ: Paul Kluetz, deputy director,
22 Oncology Center of Excellence.

1 DR. BEAVER: Julia Beaver, director of
2 Division of Oncology 1.

3 DR. WEINSTOCK: Chana Weinstock, team lead
4 for this application.

5 DR. AGRAWAL: Sundeep Agrawal, medical
6 reviewer for this application.

7 DR. GAO: Cindy Gao, statistical reviewer
8 for this application.

9 DR. RINI: Brian Rini, a GU medical
10 oncologist from Vanderbilt.

11 DR. HALABI: Susan Halabi, statistician,
12 Duke University.

13 DR. HINRICHS: Christian Hinrichs,
14 investigator, National Cancer Institute.

15 DR. KLEPIN: Heidi Klepin, geriatric
16 oncologist, Wake Forest School of Medicine.

17 DR. HOTAKI: Lauren Tesh Hotaki, designated
18 federal officer.

19 DR. HOFFMAN: Philip Hoffman, medical
20 oncologist, University of Chicago.

21 DR. CRISTOFANILLI: Massimo Cristofanilli,
22 medical oncologist, Northwest University.

1 DR. GARCIA: Jorge Garcia, GU medical
2 oncologist, formerly at the Cleveland Clinic,
3 transitioning to become the division chair at Simon
4 Cancer Center at Case.

5 DR. HAWKINS: Randy Hawkins, internal
6 medicine and pulmonary medicine, Charles
7 University, consumer representative.

8 MR. KUNDEL: Terry Kungel with the Maine
9 Coalition to Fight Prostate Cancer, patient
10 representative.

11 DR. SIDDIQUI: Mohummad Siddiqui, urologist
12 at the University of Maryland and Baltimore VA
13 Medical Center.

14 DR. HUSSAIN: Maha Hussain, GU medical
15 oncologist, Northwestern University.

16 DR. MAKAROV: Dan Makarov, urologist, NYU.

17 DR. SANDLER: Howard Sandler, radiation
18 oncologist, Cedar Sinai in Los Angeles.

19 DR. WALSH: Patrick Walsh, urologist, Johns
20 Hopkins.

21 DR. CHENG: Jon Cheng, medical oncology,
22 industry rep, and I also work with Merck.

1 DR. HOFFMAN: For topics such as those being
2 discussed at today's meeting.

3 DR. HOFFMAN: For topics such as those being
4 discussed at today's meeting, there are often a
5 variety of opinions, some of which are quite
6 strongly held.

7 Our goal is that today's meeting will be a
8 fair and open forum for discussion of these issues
9 and that individuals can express their views
10 without interruption. Thus, as a gentle reminder,
11 individuals will be allowed to speak into the
12 record only if recognized by the chairperson. We
13 look forward to a productive meeting.

14 In the spirit of the Federal Advisory
15 Committee Act and the Government in the Sunshine
16 Act, we ask that the advisory committee members
17 take care that their conversations about the topic
18 at hand take place in the open forum of the
19 meeting.

20 We are aware that members of the media are
21 anxious to speak with the FDA about these
22 proceedings, however, FDA will refrain from

1 discussing the details of this meeting with the
2 media until its conclusion. Also, the committee is
3 reminded to please refrain from discussing the
4 meeting topic during breaks or lunch. Thank you.

5 Now I'll pass it to Dr. Lauren Hotaki, who
6 will read the Conflict of Interest Statement.

7 DR. HOTAKI: Dr. Song, would you mind
8 introducing yourself for the record, please?

9 DR. SONG: My name is Daniel Song. I'm a
10 radiation oncologist professor at Johns Hopkins.

11 **Conflict of Interest Statement**

12 DR. HOTAKI: The Food and Drug
13 Administration is convening today's meeting of the
14 Oncologic Drugs Advisory Committee under the
15 authority of the Federal Advisory Committee Act of
16 1972.

17 With the exception of the industry
18 representative, all members and temporary voting
19 members of the committee are special government
20 employees or regular federal employees from other
21 agencies and are subject to federal conflict of
22 interest laws and regulations.

1 The following information on the status of
2 this committee's compliance with federal ethics and
3 conflict of interest laws, covered by but not
4 limited to those founded at 18 U.S.C. Section 208,
5 is being provided to participants in today's
6 meeting and to the public.

7 FDA has determined that members and
8 temporary voting members of this committee are in
9 compliance with federal ethics and conflict of
10 interest laws.

11 Under 18 U.S.C. Section 208, Congress has
12 authorized FDA to grant waivers to special
13 government employees and regular federal employees
14 who have potential financial conflicts when it is
15 determined that the agency's need for a special
16 government employee's services outweighs his or her
17 potential financial conflict of interest or when
18 the interest of a regular federal employee is not
19 so substantial as to be deemed likely to affect the
20 integrity of the services, which the government may
21 expect from the employee.

22 Related to the discussion of today's

1 meeting, members and temporary voting members of
2 this committee have been screened for potential
3 financial conflicts of interest of their own as
4 well as those imputed to them, including those of
5 their spouses or minor children, and for purposes
6 of 18 U.S.C. Section 208, their employers. These
7 interests may include investments; consulting;
8 expert witness testimony; contracts, grants,
9 CRADAs; teaching, speaking, writing; patents and
10 royalties; and primary employment.

11 The morning session of today's agenda
12 involves discussion of new drug application 212578
13 for padeliporfin di-potassium powder for solution
14 injected submitted by STEBA Biotech, S.A. The
15 proposed indication for use of this product is for
16 the treatment of patients with localized prostate
17 cancer meeting the following criteria, stage T1
18 through T2a; and prostate-specific antigen less
19 than or equal to 10 nanograms per mL; and Gleason's
20 grade group 1 based on transrectal
21 ultrasound-guided biopsy or unilateral Gleason
22 grade group 2, based on multiparametric magnetic

1 resonance imaging-targeted biopsy with less than 50
2 percent of cores positive.

3 This is a particular matters meaning during
4 which specific matters related to STEBA Biotech's
5 NDA will be discussed. Based on the agenda for
6 today's morning session and all financial interests
7 reported by the committee members and temporary
8 voting members, no conflict of interest waivers
9 have been issued in connection with this meeting.
10 To ensure transparency, we encourage all committee
11 members and temporary voting members to disclose
12 any public statements that they have made
13 concerning the product at issue.

14 With respect to FDA's invited industry
15 representative, we would like to disclose the
16 Dr. Jonathan Cheng is participating in this meeting
17 as a non-voting industry representative acting on
18 behalf of regulated industry. Dr. Cheng's role at
19 this meeting is to represent industry in general
20 and not any particular company. Dr. Cheng is
21 employed by Merck Research Laboratories.

22 With regard to FDA's guest speaker, the

1 agency has determined that the information to be
2 provided by this speaker is essential. The
3 following interests are being made public to allow
4 the audience to objectively evaluate any
5 presentation and/or comments made by the speaker.

6 Dr. Jim Hu has acknowledged that he is a
7 principal investigator on a Patient-Centered
8 Outcomes Research Institute awarded study, PCORI
9 CER-2019C1-15682, titled Prostate Cancer
10 Comparative Outcomes of New Conceptual Paradigms
11 for Treatment. As a guest speaker, Dr. Hu will not
12 participate in committee deliberations, nor will he
13 vote.

14 We would like to remind members and
15 temporary voting members that if the discussions
16 involve any other products or firms not already on
17 the agenda for which an FDA participant has a
18 personal or imputed financial interest, the
19 participants need to exclude themselves from such
20 involvement, and our exclusion will be noted for
21 the record. FDA encourages all other participants
22 to advise the committee of any financial

1 relationships that they have with the firm at
2 issue. Thank you.

3 DR. HOFFMAN: Alright. We will now proceed
4 with the FDA's opening remarks from Dr. Chana
5 Weinstock.

6 **FDA Opening Remarks - Chana Weinstock**

7 DR. WEINSTOCK: Good morning. My name is
8 Chana Weinstock, and I am a medical oncologist who
9 is the team lead for this new drug application for
10 TOOKAD.

11 The proposed indication for TOOKAD is for
12 the treatment of patients with localized prostate
13 cancer meeting the following criteria: stage T1 to
14 T2a; a PSA less than 10 nanograms per milliliter;
15 Gleason grade group 1 based on transrectal
16 ultrasound biopsy; or unilateral Gleason grade
17 group 2 based on multiparametric MRI-targeted
18 biopsy with less than 50 percent of cores positive.

19 We note that the applicant has included some
20 patients with intermediate risk disease in this
21 proposed indication. As we will review shortly,
22 patients with intermediate risk prostate cancer

1 were not enrolled on the randomized trial PCM301
2 submitted in support of this application. The ODAC
3 is therefore asked to discuss only the issues
4 surrounding the enrolled population; that is
5 patients with low-risk localized prostate cancer
6 diagnosed with transrectal ultrasound biopsy.

7 As we begin our discussion of this
8 application, I'm going to take a moment to reorient
9 your thinking about the data that you're about to
10 review. As this may not be the sort of
11 risk-benefit calculus you may be used to using in
12 evaluating trials of anticancer agents, the
13 objective of most cancer trials is to demonstrate
14 antitumor efficacy of an anticancer agent with the
15 goal of delaying or preventing cancer-related
16 morbidity or mortality.

17 Endpoints used for this purpose might
18 include progression-free and overall survival.
19 However, the objective of a focal therapy in an
20 active surveillance population is to demonstrate a
21 reduction in the need to undergo a morbid procedure
22 such as radical prostatectomy or radiation, with

1 results in delay or prevention of procedure-related
2 morbidity such as sexual or urinary dysfunction.
3 Endpoints for this purpose could be the rate of
4 those who subsequently undergo surgery or
5 radiation, rates of pathologic upgrade and safety,
6 and long-term morbidity outcomes.

7 To provide some context to today's
8 discussion, low-risk prostate cancer patients were
9 often historically treated with prostatectomy or
10 radiation. However, active surveillance has become
11 the preferred management strategy for those with a
12 reasonable life expectancy since it is increasingly
13 clear that some patients with clinically
14 insignificant disease are at risk of
15 over-treatment. However, many patients still
16 undergo prostatectomy or radiation during
17 surveillance, up to 40 percent within the first
18 5 years.

19 With these factors in mind, an FDA workshop
20 held in 2018 discussed a novel trial endpoint for
21 focal therapies versus active surveillance in men
22 with localized prostate cancer. The overall goal

1 would be to decrease pathologic upgrade, and
2 panelists opined that this endpoint might represent
3 clinical benefit if this was also accompanied by an
4 overall decrease in rate of definitive therapy and
5 an overall decrease in long-term toxicity, both
6 physician and patient reported.

7 The submitted trial, PCM301, was conducted
8 and designed prior to the 2018 workshop. It
9 studied patients with low-risk prostate cancer
10 randomized to TOOKAD treatment plus subsequent
11 surveillance versus active surveillance alone. The
12 co-primary endpoints assessed absence of cancer and
13 time to disease progression. Scheduled biopsies
14 were done at 12 and 24 months. We also, again,
15 note that there were no intermediate risk patients
16 enrolled. We also note that the trial design was
17 open label.

18 The sponsor added a study extension to
19 collect an additional 5 years of data. However, as
20 biopsy and safety data were not collected in a
21 uniform and rigorous manner, FDA considers only the
22 primary two-year study as the basis for this

1 application.

2 The study met its co-primary endpoints with
3 an increase in patients whose biopsies were absence
4 of cancer and a decreased time to cancer
5 progression on the TOOKAD arm at 2 years. Although
6 no alpha was allocated and despite the subjective
7 nature of this endpoint, more patients on the
8 active surveillance arm underwent definitive
9 therapy at 2 years.

10 FDA will be raising key issues today related
11 to the interpretation of these results. Are the
12 study defined endpoints appropriate to characterize
13 benefit? Is the demonstrated safety profile of
14 TOOKAD acceptable? Do uncertainties around trial
15 data allow for a reasonable overall assessment of
16 benefit and risk?

17 First, the endpoints. Endpoint A, absence
18 of definitive cancer at 24 months in these patients
19 is inherently difficult to interpret due to the
20 fact that, by definition, all patients managed with
21 active surveillance have cancer at baseline, and
22 without further intervention, none should have

1 cancer absent.

2 Point B, decreased disease progression;
3 since pathologic upgrade may trigger clinical
4 intervention, improvement in this endpoint may have
5 clinical implications if use of definitive therapy
6 and resultant toxicity also decrease. However,
7 individual components of this composite endpoint
8 may not be objective clinical triggers for
9 intervention. Additionally, whether endpoint be
10 demonstrated a meaningful benefit in PCM301 is
11 unclear, as overall toxicity rates were high.

12 The safety profile. If TOOKAD caused as
13 much toxicity as definitive therapy, that would be
14 problematic, as unlike active surveillance, all
15 patients on the TOOKAD arm received this therapy
16 upfront. And although urinary dysfunction was
17 similar between arms in the long term, the rate of
18 unresolved erectile dysfunction at month 24 for
19 TOOKAD was 23 percent; and with a limited follow-up
20 time of only 2 years, the possibility of a
21 compromised cure rate and potential harm for
22 patients needing definitive therapy at some point

1 after an initial TOOKAD remains unknown.

2 There are data uncertainties. In terms of
3 efficacy, both co-primary endpoints are based on
4 biopsy data, however, biopsy in this setting may be
5 unreliable. This is illustrated by the 13 percent
6 missing month 24 biopsy data in addition to false
7 negative biopsies, which were 14 percent on the
8 active surveillance arm.

9 We also note that there was potential for
10 unblinding of the central pathologist due to
11 necrosis on histology specimens in the TOOKAD arm.
12 Additionally, there are other sampling errors and
13 misattributions that could potentially occur in
14 this setting.

15 In terms of safety, many patients stopped
16 reporting adverse events after undergoing
17 definitive therapy. This disproportionately
18 affects the active surveillance arm versus the
19 TOOKAD arm, 19 percent versus 2 percent overall.
20 This is problematic, as the data uncertainty makes
21 it difficult for an accurate benefit-risk
22 assessment.

1 There's also a planned trial, PCM306,
2 designed with FDA input that may help to address
3 many of the issues noted in review of PCM301. This
4 will be done in a favorable intermediate risk
5 population and will randomize patients to TOOKAD
6 versus active surveillance.

7 Initial biopsy will be done using
8 multiparametric MRI. Follow-up biopsies will be
9 scheduled for up to 5 years, providing long-term
10 endpoint data. Longer follow-up will include
11 careful collection of safety and PRO data for all
12 patients, including for those who undergo
13 definitive therapy. Triggers for determining
14 referral for definitive therapy will be
15 prespecified to help reduce the subjectivity
16 associated with this decision. The protocol for
17 this trial is finalized and enrollment is set to
18 begin.

19 Our voting question is therefore as follows.
20 Do the results of PCM301 represent a favorable
21 risk-benefit profile for TOOKAD in patients with
22 low-risk, early-stage prostate cancer?

1 Thank you. Dr. Hu will now discuss the
2 treatment landscape for localized prostate cancer.

3 **Guest Presentation - Jim Hu**

4 DR. HU: Good morning. Thanks for the
5 opportunity to the FDA and the committee for giving
6 an update on the current landscape for diagnosis
7 and treatment of non-high-risk prostate cancer. I
8 was just given some general guideposts to aid the
9 discussion today, and this is the outline of the
10 talk that I'll give.

11 I'll briefly go over prostate cancer
12 epidemiology and discuss the contemporary approach
13 to diagnosis of prostate cancer; how there are
14 precision medicine and other biomarkers that are
15 being used to improve prostate cancer risk
16 stratification; the current treatment options for
17 men with this risk stratification; as well as the
18 challenges to partial gland ablation.

19 I think this is an important figure to
20 consider given the screening of prostate cancer and
21 the resultant decrease in metastatic disease, and
22 compares mammography for breast cancer as well as

1 the advent of PSA for prostate cancer screening.
2 One can see that the incidence of metastatic
3 disease dropped precipitously after the advent of
4 PSA in the early 1990s. Additionally, we can
5 compare this recent graphic from the American
6 Cancer Society website that gives the incidence
7 rate of prostate cancer as well as the mortality
8 relative to breast cancer, as far as incidence in
9 lung cancer and breast as far as mortality.

10 In terms of death rates, we can also see
11 that after the early 1990s, prostate cancer
12 specific mortality also declined. However, when we
13 look at recent statistics from the American Cancer
14 Society, you can see that the incidence of prostate
15 cancer reached a low in 2017 and has recently
16 increased, and we'll see reasons why; as well as
17 the death rate also nadired around 2016-2017 and
18 has recently been going up. The lifetime risk of
19 prostate cancer diagnosis is 1 in 9, and the
20 prevalence is 3 million.

21 We were fortunate to look at the SEER
22 database, Surveillance, Epidemiology, and End

1 Results, and determine that the likelihood of
2 metastatic disease at diagnosis was increasing in
3 2012 and 2013 relative to 2011. There were
4 questions whether this was detection bias and was
5 it use of molecular imaging?

6 Some of the guideline recommendations, I
7 think, around this time may answer the question of
8 changes in epidemiologic figures that I showed
9 earlier. In 2012, the Preventative Services Task
10 Force recommended against PSA screening regardless
11 of age. 2018, this was revised to a grade C, which
12 is an individualized decision.

13 This is a recent story from this month from
14 the Harvard Gazette in which a non-urologist
15 comments that in 2020, rates of aggressive prostate
16 cancer are going up. As we've seen from the
17 figures, more men are dying of prostate cancer, and
18 despite better therapeutics, we're not doing a good
19 job in screening.

20 This is a infographic that the U.S.
21 Preventative Services Task Force puts out, and it
22 gives the relative benefit of PSA screening as well

1 as the harms of screening. One can see that one of
2 the harms, of course, is the false positive rate as
3 well as the side effects of biopsy, which include
4 pain, bleeding, and infection.

5 This is a schematic of how most biopsies are
6 currently done in the United States that is through
7 a transrectal approach. Infection rates have been
8 noted to be as much as 7 percent in
9 population-based analyses of Medicare data.

10 Recently, this randomized trial looked at
11 the use of an MRI-targeted approach; that is
12 patients got an MRI first of the prostate in the
13 figure on the left, and then secondly underwent a
14 fusion with ultrasonography, overlaying the MRI and
15 the region of interest. In this particular study,
16 they randomized roughly 250 men to an MRI for
17 elevated PSA versus going straight to a standard
18 biopsy that I showed earlier.

19 One can see that 28 percent of men in the
20 MRI-targeted arm avoided a biopsy because they had
21 on the PIRAS 1's and 2's. PIRAS is the
22 classification system for MRI and also the

1 MRI-targeted approach; that is biopsying only the
2 area of interest led to 12 percent greater
3 detection of clinically significant prostate cancer
4 grade group 2 or higher, as well as a 13 percent
5 decrease in the diagnosis of indolent grade group 1
6 cancer.

7 There's also a movement now in our field
8 that I think has been promulgated more in Europe
9 towards a transperineal approach to biopsy. There
10 was traditional dogma that under local anesthesia,
11 a transperineal approach would be too
12 uncomfortable.

13 One of the purported benefits is that a
14 transperineal approach could also improve sampling
15 of the anterior zone of the prostate. It's been
16 noted by several studies that African American men
17 are more likely to have anterior tumors, and hence,
18 there's a movement towards this approach.

19 One of the guidelines or paradigms to
20 examine innovations in surgery or techniques is
21 what's called the ideal classification. This is an
22 idea, development, evaluation, and long-term

1 assessment, and this is now in stage 2A in terms of
2 an MRI-targeted approach to transperineal biopsy
3 under local anesthesia.

4 I'll just add that I think this is of
5 relevance because for target therapies, that is for
6 partial gland ablation, this will allow an approach
7 in the office, rather than general anesthesia, that
8 I think we'll see upcoming with other applications.
9 In terms of evaluating this approach, I'm fortunate
10 to have other co-investigators, a multi-PI
11 mechanism, from Johns Hopkins, Northwestern,
12 et cetera, and we'll examine whether or not this
13 approach bears out.

14 In terms of the infographic as well, there's
15 mention that 20 to 50 percent of men diagnosed with
16 prostate cancer have indolent disease. When we
17 look at the NCCN guidelines for low-risk disease,
18 for those with a life expectancy less than 10
19 years, observation is what's recommended. Then we
20 have our standard treatments, including active
21 surveillance; EBRT, external beam radiotherapy or
22 brachy; as well as radical prostatectomy.

1 In 2015, it was noted that it used to be
2 that all who have been diagnosed with localized
3 disease underwent definitive therapy, however, half
4 of men now are choosing to monitor the prostate
5 cancer.

6 Dr. Makarov, who's here today on the panel,
7 nicely did work from the Veterans Administration
8 showing that for men less than 65, as well as those
9 older than 65 in the bottom panel, there's been
10 increased adoption of conservative management,
11 whether that be active surveillance that is
12 monitoring with curative intent or watchful waiting
13 where there's no intervention until there's signs
14 of disease.

15 When we look at the current landscape in
16 terms of competing treatments for prostate cancer,
17 this again comes from surveillance epidemiology,
18 and results are SEER, showing that there has been
19 an uptake in active surveillance regardless of risk
20 stratification, as well as a decline in definitive
21 therapy. When we look at favorable intermediate
22 risk disease, we can see that those with less than

1 10-year life expectancy, again, there's observation
2 that's preferred. And even for those with greater
3 than 10-year life expectancy, active surveillance
4 is recommended to be considered as a treatment
5 option.

6 For unfavorable disease, we can see that
7 there's the addition of androgen deprivation
8 therapy for those who are considering radiation
9 therapy, as level 1 evidence has demonstrated
10 benefit for this. Again, when we break down the CR
11 data by intermediate risk disease, we see upward
12 inflection in terms of adoption of monitoring.

13 Just to close out the NCCN recommendations,
14 there was actually a statement about the use of
15 biomarkers such as Decipher, Oncotype, Prolaris,
16 ProMark, such that men with lower or favorable
17 intermediate risk disease should consider this to
18 improve risk stratification. This was highlighted
19 in a Wall Street Journal article discussing the use
20 of these biomarkers.

21 Before I delve into that, I'll just mention
22 that when we look at traditional staging or grading

1 for prostate cancer, we can see that the Gleason
2 score goes from 6 to 10. This has been revised to
3 grade grouping, however, it was noted in this study
4 from the Martini Klinik that when one looks at the
5 percent of pattern 4, that gives a finer risk
6 stratification, and as such, this has been adopted
7 by most pathologists for favorable intermediate
8 risk disease, giving the percent pattern 4.

9 When we look at our own targeted-biopsy
10 experience to determine when we target the MRI
11 abnormal areas as well as do systematic biopsies,
12 how are we doing relative to accurate
13 stratification in men who ultimately went to
14 radical prostatectomy, we find that, still, about a
15 third of men had an increase in NCCN risk. When we
16 had the entire radical prostatectomy specimen to
17 examine, 12 percent had a decrease in NCCN risk.
18 There was a 25 percent discordance in terms of
19 upgrading a radical prostatectomy and 22 percent
20 downgrading, and upstaging was found in 29 percent.

21 This is just a schematic demonstrating the
22 typical resulting of a precision medicine test, and

1 it just shows that the endpoints there highlighted
2 below our freedom from high-grade disease or
3 freedom from non-organ confined disease; that is
4 pathologic T3 disease. This is scored from 0 to
5 100, this GPS score, and also takes into
6 consideration conventional NCCN stratification
7 variables, that is PSA Gleason score and clinical
8 stage.

9 When we look at how this performs in an
10 active surveillance cohort, this comes from UCSF
11 and shows that in those with adverse pathology
12 highlighted here in red, one can see that as the
13 GPS score on the 0 to 100 scale on the Y-axis
14 increased, there is a greater likelihood of the red
15 bars in this waterfall plot. The solid line just
16 indicates the 75th percentile. The median GPS in
17 this cohort was 26.

18 Then in multivariable analysis, the UCSF
19 group found that for a 5-unit increase in the GPS
20 score, there was a significant increase in the
21 hazard ratio for both adverse pathology as well as
22 biochemical recurrence in these 215 men who

1 initially started on active surveillance and then
2 had a delayed radical prostatectomy.

3 This has also been shown in terms of adding
4 a score of the conventional NCCN to the genomic
5 classifier or the Decipher score. Summing that to
6 a 1 to 5 score led to a better area under the curve
7 in terms of prediction for metastatic disease as
8 shown on the right versus your conventional NCCN
9 risk stratification.

10 However, a group from the University of
11 Michigan looked radical prostatectomy specimens and
12 asked whether or not these genomic classifiers can
13 differentiate between prostate cancers that have
14 intratumor heterogeneity and/or multifocality.

15 On panel A, they demonstrate that for grade
16 group 1 through 5, there is an increase in the
17 three of the commercially available RNA
18 expression-based biopsy tests as one would hope to
19 find; then they also looked at in panel C,
20 comparing these three genomic classifier scores of
21 men with a grade group 1 alone on the left of panel
22 C and whether their scores differed from those who

1 had great group 1 and tumor multifocality.

2 They found at least that there was no
3 difference in the ability for these genomic scores
4 to pick up on a tumor heterogeneity or a hidden
5 higher grade cancer. They also added that these
6 tests may cost up to \$4500 per test, as well as the
7 fact that 70 percent of men diagnosed with prostate
8 cancer may be eligible for these tests. In an
9 aggregate, these tests may cost \$250 million
10 annually.

11 In terms of the harms of treatment for
12 prostate cancer, I would just highlight here that
13 this infographic states that the risk of erectile
14 dysfunction is 50 out of the 80 men treated. It
15 may be mistaken that this is 15 percent and the
16 risk of urinary incontinence is 15 percent, but
17 rather it's about a 60 percent risk of ED as well
18 as a 20 percent risk of urinary incontinence. When
19 we look at the average age now that men are
20 diagnosed with prostate cancer, that's age 66, one
21 has to keep in mind that as men get older, there's
22 a natural increase in the prevalence of erectile

1 dysfunction.

2 This is also an example of
3 direct-to-consumer advertising about CyberKnife or
4 SBRT. I think certainly this has increased patient
5 convenience in terms of 5 treatments over 2 weeks
6 rather than the standard daily treatments up to 7
7 or 8 weeks. When we looked at the likelihood over
8 time, one can see that the use of SBRT is
9 increasing and it's more so used for low-risk
10 disease. Interestingly enough, in the higher risk
11 disease, a androgen deprivation therapy wasn't used
12 as much as the guidelines would advocate for.

13 In a workshop with the American Neurological
14 Association, as well as the Society for Urologic
15 Oncology, there was a public forum where patients
16 had a chance to express their desire for a focal
17 therapy approach, that this would be,
18 quote/unquote, "a promise from heaven." Men who
19 choose active surveillance, many of them dread the
20 biopsies, and it was stated here that many of them
21 would rather have a partial gland approach.

22 When we look at, for instance, surgical

1 treatment for prostate cancer, of course these men
2 oftentimes have no ejaculate or even climacteria.
3 There's a trade-off between cancer control and
4 erectile dysfunction in some cases, as well as
5 urinary continence. The paradigm for partial gland
6 ablation is to perhaps give up a little bit in
7 terms of cancer control but have an increase in the
8 likelihood of preservation of ejaculatory, urinary,
9 as well as sexual function.

10 This is just a schematic of the different
11 energy modalities that have been described to
12 conduct partial gland ablation. When we look at
13 the current tools -- and I mentioned the MRI as
14 being a very widely used biomarker -- this is a
15 nice study conducted from UCLA where they looked at
16 whole-mount pathology as well as the region of
17 interest.

18 In panel B, that's an axial image MRI; panel
19 C is a coronal. One can see that compared to the
20 whole mount in red, the MRI in this case
21 underpredicts the tumor border, and it was
22 estimated that this was about 13 millimeters

1 overall. I think this has led to, amongst those
2 who do focal therapy, the idea of treating beyond
3 the border.

4 In another paper that looked at the
5 eligibility, the UCLA group again looked at those
6 who were diagnosed with a favorable intermediate
7 risk prostate cancer and looked at the fact that
8 when they went to radical prostatectomy and whole
9 mount, what would be the eligibility, and found
10 that about 39 percent of men who had a targeted
11 biopsy would be eligible for focal therapy.

12 Furthermore, they found that of those men
13 who were eligible, the biopsy was concordant with
14 whole mount pathology in 75 percent of cases.
15 However, there was a recent study from Europe that
16 demonstrated at 6 months after high intensity
17 focused ultrasound, biopsies were conducted, and 41
18 percent of these men had clinically significant
19 disease. Yet, when the UCLA group looked at their
20 use of hemiablation in follow-up biopsies, they
21 also found a 21 percent risk of persistent disease.

22 Going back to the schematic, A shows that

1 the region of interest was diagnosed there with
2 MRI-targeted biopsy. The follow-up biopsies were
3 performed such that only the half of the prostate
4 that was treated was biopsied. Again, here you can
5 see that there was 21 percent persistent
6 intermediate risk or higher disease.

7 When we looked at our own partial gland
8 experience with cryotherapy, we looked at some of
9 the MRI characteristics post-treatment. I think
10 this is relevant because it begs the question, how
11 do you conduct your biopsies to get to the endpoint
12 of ablation and success.

13 Just from this schematic, this is
14 pretreatment versus post-treatment MRI and the red
15 area is the region of interest. One can see
16 there's a little bit of a distortion, as well as
17 loss of volume, which one would expect after
18 partial gland ablation. When one looks at the MRI
19 characteristics here, you can see that there's a
20 change, and there's not a consistent pattern in
21 terms of region of interest changes one can see, as
22 well as the volume reduction.

1 We also asked our pathologist to grade or
2 assess whether or not there's treatment effect
3 present or absent, and one can see that in the
4 targeted region of interest after treatment where
5 we biopsy, sometimes there's an absence of a
6 treatment effect.

7 This is just a schematic again that follows
8 longitudinally a patient that went to radical
9 prostatectomy. I think in panel E and G, you can
10 see that we've ablated the right anterior part of
11 the prostate, and you can see that the left side
12 actually bulges over.

13 Where this is going is the question of how
14 do you consistently conduct a targeted biopsy to
15 determine successful endpoints as far as histology
16 when there's going to be variation in the
17 configuration of the prostate?

18 As part of the effort to look at partial
19 gland ablation and its safety and outcomes, we've
20 partnered with the FDA, Charlie Viviano, Ben
21 Fisher, Denesed Abnovich [ph]. Michael Gorin is
22 also here from Johns Hopkins who's hosting a REDCap

1 database. My partner Art Sedrakyan has U01 funding
2 for us to do this. We've looked at developing a
3 coordinated registry network to look carefully at
4 the outcomes of ablation regardless of energy
5 modality, as well as looked carefully at patient
6 selection.

7 This is a schematic that Dr. Weinstock
8 showed earlier, so I'm not going to belabor this
9 further, but this came out from the July 2018
10 meeting where primary endpoints of local
11 progression or secondary endpoints delay to
12 definitive therapy were discussed in a randomized
13 trial setting.

14 Hash Ahmed asked can we deliver randomized
15 trials for focal therapy and prostate cancer, and
16 listed here are 11 randomized trials that failed.
17 One can see that the reason for closure on the
18 right side is largely due to the lack of physician
19 equipoise or lack of patient equipoise. Hence,
20 earlier there was mention of our PCORI grant to
21 look at comparative effectiveness studying SBRT
22 partial gland ablation as well as the traditional

1 treatments and active surveillance.

2 I'll just highlight again, going back to
3 this averaged outcome of the harms of treatment, 60
4 percent ED as well as 20 percent urinary
5 incontinence, when Dr. Scardino, who's here today,
6 looked with Andrew Vickers at the likelihood of
7 erectile dysfunction as well as urinary
8 incontinence after radical prostatectomy, one can
9 see that there is a tremendous variation between
10 11 surgeons who conducted the operation, with the
11 solid vertical bars there being the median for
12 urinary function on the left and erectile function
13 on the right.

14 So you can imagine that those
15 population-based estimates -- and again, this was
16 conducted at Memorial Sloan Kettering, those are
17 our community hospitals where the surgeon volume is
18 going to be much lower in this study. As well, it
19 was noted that for surgeons who perform 25 radical
20 prostatectomies a year, the likelihood of achieving
21 both urinary continence as well as erectile
22 function was around 21 percent, and this increased

1 to 47 percent when the annual surgeon volume was
2 about over a hundred.

3 Just pointing out, for myself, I think it's
4 important for those who do focal therapy to also be
5 experts at other treatment options for prostate
6 cancer, that is active surveillance and definitive
7 therapy, to decrease the absence of physician
8 equipoise. Fortunately, just to point out,
9 Dr. Walsh is here today, and I'm fortunate to work
10 with him on a technique to decrease the likelihood
11 of urinary incontinence.

12 In summary, I would say in terms of the
13 randomized trials, again, physician equipoise is
14 critical. When we look at our field currently, I
15 think there is a subdivision of labor in terms of
16 those who -- in Europe, or the UK, there's a
17 labeling of those who do focal therapy as the
18 focalists, whereas the people that do radical
19 prostatectomies are the roboticists. That division
20 of labor I think needs to be solved for there to be
21 fairness to the patient.

22 Finally, in terms of value, defined as

1 outcomes over cost by Michael Porter at Harvard
2 Business School, in focal therapy fields up front,
3 we looked at the longitudinal costs of treatment.
4 We see that that's going to increase over time, so
5 consideration to get it right the first time.

6 In conclusion, we've covered topics talking
7 about the controversies over PSA screening as well
8 as the shift to transperineal biopsy, which I think
9 will also hold promise for focal therapy approaches
10 done in the office. We talked about biomarkers as
11 well as RNA-based expression profiles in MRI to
12 improve risk stratification, as well as the advent
13 of SBRT to increase patient convenience, and the
14 challenges of partial gland ablation in terms of
15 patient selection and identifying endpoints. Thank
16 you.

17 DR. HOFFMAN: Thank you very much, Dr. Hu.

18 Both the Food and Drug Administration and
19 the public believe in a transparent process for
20 information gathering and decision making. To
21 ensure such transparency at the advisory committee
22 meeting, FDA believes that it is important to

1 understand the context of an individual's
2 presentation.

3 For this reason, FDA encourages all
4 participants, including the sponsor's non-employee
5 presenters, to advise the committee of any
6 financial relationships that they may have with the
7 firm at issue such as consulting fees, travel
8 expenses, honoraria, and interests in the sponsor,
9 including equity interests and those based upon the
10 outcome of the meeting.

11 Likewise, FDA encourages you at the
12 beginning of your presentation to advise the
13 committee if you do not have any such financial
14 relationships. If you choose not to address this
15 issue of financial relationships at the beginning
16 of your presentation, it will not preclude you from
17 speaking.

18 We'll now proceed with the applicant's
19 presentations.

20 **Applicant Presentation - John Rewcastle**

21 DR. REWCASTLE: Good morning. My name is
22 John Rewcastle, and I'm the head of U.S. regulatory

1 at STEBA Biotech. We are pleased to be here today
2 to present data from our Study 301, a randomized
3 clinical trial supporting a novel therapy for
4 localized prostate cancer.

5 These data demonstrate that TOOKAD
6 vascular-targeted, photodynamic therapy, TOOKAD
7 VTP, is more effective than active surveillance and
8 less morbid than radical therapy with a positive
9 benefit-risk profile. It is a new option that
10 meets an important need in localized prostate
11 cancer.

12 TOOKAD VTP is a drug device combination that
13 includes a drug derived from phototropic bacteria
14 and a non-thermal-like delivery system. TOOKAD is
15 administered intravenously and is activated locally
16 within the prostate by illuminating with low energy
17 laser light. Upon activation, TOOKAD rapidly
18 constricts the blood supply only in the illuminated
19 area. This results in necrosis.

20 TOOKAD VTP can provide a safe and effective
21 treatment for men with localized prostate cancer.
22 It is a minimally invasive ablation of one lobe of

1 the prostate, or a hemiablation, that preserves
2 surrounding normal tissue and thereby quality of
3 life. Importantly, it reduces the risk of disease
4 progression, which delays or avoids the need for
5 radical therapy and its well-known morbidities.
6 These are important and clinically meaningful
7 endpoints for localized prostate cancer.

8 Given the relatively indolent nature of
9 early-stage prostate cancer, traditional survival
10 measures are not practical endpoints for clinical
11 trials. This was discussed in detail when in July
12 of 2018, the FDA Oncology Center of Excellence held
13 a public workshop with multidisciplinary experts in
14 attendance. An important conclusion from this
15 workshop was agreement that avoidance of the
16 morbidity of radical therapy is a clinical benefit
17 in the management of localized prostate cancer.

18 Another outcome of that workshop was general
19 agreement on trial design for registration of a
20 prostate cancer therapy. The primary objective
21 should be demonstrating delay or avoidance of local
22 disease progression. The secondary objectives

1 should be demonstrating a delay or avoidance of
2 radical therapy as well as a delay or prevention of
3 short- and long-term procedure-related morbidity.
4 The data we'll present today are consistent with
5 these general recommendations.

6 We are seeking accelerated approval for
7 TOOKAD VTP for the treatment of localized prostate
8 cancer, meeting the criteria listed here. I'd like
9 to point out that our indication allows for both
10 TRUS and MRI biopsy methods. This is important
11 because we know that TRUS biopsy undergrades
12 prostate cancer.

13 If a low-risk population diagnosed with TRUS
14 biopsy, such as the Study 301 population, underwent
15 an MRI targeted biopsy, a significant portion of
16 that population would be reclassified to have grade
17 group 2 or higher disease. This effectively shifts
18 the risk groups. The population itself is still
19 the same, but more precise biopsy has reclassified
20 them more accurately.

21 By including a subset of grade group 2
22 patients who underwent MRI-targeted biopsy, our

1 indication remains consistent with the Study 301
2 population, current practice, and the NCCN
3 guidelines. For the remainder of our presentation,
4 when we refer to low risk, we are referring to TRUS
5 biopsy-diagnosed low risk, which is the population
6 of Study 301.

7 Here's the agenda for the remainder of our
8 presentation. Dr. Peter Scardino will discuss the
9 need that exists in the current management of
10 clinically localized prostate cancer. Dr. Neal
11 Shore will review the TOOKAD procedure, followed by
12 Dr. Henry Boodée, who will review the clinical
13 efficacy and safety data.

14 Lastly, Dr. Inderbir Gill will provide his
15 clinical perspective on the use of TOOKAD VTP. We
16 also have additional experts with us today to help
17 answer questions. The experts listed on this slide
18 have been compensated for their time and travel to
19 today's meeting. Thank you. I will now turn the
20 lecture over to Dr. Scardino.

21 **Applicant Presentation - Peter Scardino**

22 DR. SCARDINO: Thank you very much,

1 Dr. Rewcastle.

2 Good morning. My name is Peter Scardino.
3 I'm a board certified urologist specializing for
4 the last 40 years in the diagnosis and treatment of
5 prostate cancer. I served for more than 20 years
6 as chief of urology, then chair of surgery at
7 Memorial Sloan Kettering. Over the course of my
8 career, I've written hundreds of articles about
9 prostate cancer, treated thousands of men with
10 surgery, and place hundreds of men on active
11 surveillance.

12 I am here today, an uncompensated advisor to
13 the sponsor and the other companies developing
14 technology for ablation of prostate cancer, because
15 I believe there is a major unmet need for a
16 treatment option that bridges the gap between
17 radical therapy and active surveillance for men
18 with early-stage disease.

19 Prostate cancer is the most commonly
20 diagnosed non-skin cancer in men in the United
21 States with nearly 192,000 new cases expected this
22 year. High detection rates are due in part to the

1 widespread use of PSA testing. Additionally,
2 biopsy methods are now more sensitive with
3 MR-guided biopsies targeting visible lesions added
4 to ultrasound-guided transrectal, systematic
5 biopsies of the prostate.

6 With early detection and more effective
7 treatment, the age-adjusted mortality rate fell 52
8 percent between 1992 and 2014, but this has been
9 accomplished at the cost of considerable
10 overdiagnosis and overtreatment. This is
11 particularly true for patients with clinically
12 localized disease who make up most of the newly
13 diagnosed cases today.

14 Detected at an early stage, these cancers
15 grow slowly and progression to metastasis within 10
16 years is uncommon. It's often been said of
17 prostate cancer that most men die with their cancer
18 rather than of it. Hence, many argue that
19 immediate radical prostatectomy or radiation
20 therapy are unnecessary for many of these men who
21 should be monitored with active surveillance.

22 The choice of initial management depends in

1 part on the prognosis of the cancer best indicated
2 by its grade and volume. To assess a patient's
3 risk profile and help identify appropriate
4 treatment options, the National Comprehensive
5 Cancer Network, or NCCN, classifies prostate cancer
6 before treatment into 6 risk groups according to
7 the grade, indicated by the ISUP grade group and
8 the volume of cancer, indicated by the clinical
9 stage; the PSA level; and the amount of cancer in
10 biopsy cores.

11 For the lower risk groups, the NCCN
12 guidelines recommend two distinct options for
13 initial management, either active surveillance or
14 radical therapy, depending upon the nature of the
15 cancer, the patient's life expectancy, and his
16 personal preferences about the risk and benefits of
17 each treatment option.

18 Today, about 45 percent of these men choose
19 active surveillance and 55 percent are treated
20 immediately with radical prostatectomy or radiation
21 therapy. There are other alternatives such as
22 cryoablation or HIFU, which have been used to

1 ablate the part of the prostate harboring the
2 dominant cancer, leaving the remaining prostate
3 intact. But these and other technologies for
4 partial gland ablation have not been tested in
5 randomized clinical trials. None are cleared or
6 approved by the FDA for partial gland ablation of
7 prostate cancer and none are included in
8 professional guidelines.

9 Active surveillance is now widely accepted
10 for cancers that are expected to grow slowly.
11 Surveillance reduces overtreatment, avoids
12 treatment-related side effects, preserves quality
13 of life, and allows men to maintain normal
14 activities and work schedules. As the name
15 implies, active surveillance requires careful
16 monitoring, which typically include checkups every
17 6 months and periodic MRIs and biopsies.

18 But active surveillance also has distinct
19 disadvantages. Some patients find it difficult to
20 do nothing and live with the anxiety that their
21 cancer could spread. If the cancer does progress
22 on active surveillance, it may require more

1 intensive or multimodal treatment that could be
2 less effective and more damaging to quality of life
3 than if radical therapy had been chosen initially;
4 and there is a low but real risk of dying of
5 prostate cancer on active surveillance, about 3 to
6 4 percent at 15 years for low-risk cancers and 11
7 to 12 percent for favorable intermediate risk.

8 So it's not surprising that many patients
9 with early-stage prostate cancer do not accept and
10 their physicians won't recommend active
11 surveillance, mainly because of their fear that the
12 cancer will metastasize without warning.

13 While active surveillance avoids radical
14 therapy and preserves important functions
15 initially, about half of the patients who start on
16 active surveillance convert to radical therapy over
17 10 years. Therefore, while acceptance of active
18 surveillance has increased, it is not the ultimate
19 solution for most men. In fact, three-quarters of
20 them eventually are treated with radical therapy.

21 Radical therapy refers to whole-gland
22 treatment that is typically accomplished with

1 surgery or radiation therapy. While radical
2 therapy is generally successful in controlling the
3 disease, it is not always definitive. In those
4 with early-stage disease, the cancer will recur in
5 about 20 percent often requiring additional salvage
6 treatment.

7 Radical prostatectomy risks damage to the
8 urinary sphincter responsible for continence and
9 the neurovascular bundles responsible for erectile
10 function. Radiation therapy risks damage to the
11 bladder and the rectum, leading to radiation
12 cystitis or proctitis, along with damage to
13 erectile function.

14 In a large prospective study of
15 self-reported scores on urinary, sexual, and bowel
16 function published in the New England Journal of
17 Medicine, all three functions decreased immediately
18 after radical prostatectomy in red or radiation
19 therapy in blue, most notably erectile function in
20 the middle panel. While some recover function over
21 time, only a fraction of men return to their
22 baseline function. These results have been

1 confirmed in many other studies and have improved
2 little over the past two decades.

3 In summary, men diagnosed with early-stage
4 prostate cancer face the possibility that their
5 cancer will progress and require radical therapy
6 later or that it could spread and become incurable
7 in a low but real possibility of dying from their
8 disease. These men have two distinctly different
9 treatment options, monitoring the cancer with
10 active surveillance or treating immediately with
11 radical therapy.

12 I believe there is an unmet need for a
13 treatment option that fills the gap between active
14 surveillance and radical therapy, an option that
15 can reasonably control the cancer, largely preserve
16 normal function, and safely delay or avoid the
17 long-term morbidity of radical therapy.

18 Thank you very much. Next, I'd like to
19 invite Dr. Shore to the lectern.

20 **Applicant Presentation - Neal Shore**

21 DR. SHORE: Good morning. Thank you very
22 much, Dr. Scardino. My name is Neal Shore, and I

1 am the medical director at the Carolina Urologic
2 Research Center. My time and travel have been
3 compensated by the sponsor.

4 I specialize in treating localized and
5 advanced genitourinary oncology patients. I have
6 performed hundreds of prostate gland ablation
7 procedures, including photodynamic therapy,
8 cryotherapy, brachytherapy, and HIFU. I have
9 proctored several hundred neurologic colleagues on
10 cryotherapy. I have performed over 300 clinical
11 trials, mainly focusing on innovative therapies for
12 prostate cancer. I am also an investigator for the
13 TOOKAD confirmatory study.

14 TOOKAD VTP is a pharmacological treatment
15 that allows for a hemiablation of the prostate. As
16 mentioned by Dr. Rewcastle, it involves a
17 photosensitizing drug along with a minimally
18 invasive placement of optical fibers in the target
19 prostate lobe. The drug, padeliporfin, is derived
20 from chlorophyll, the most efficient substance in
21 nature for transferring energy from light.

22 Next, let me walk you through the treatment

1 procedure. After patient preparation and
2 anesthesia, an ultrasound probe is positioned in
3 the rectum for imaging of the tumor-bearing lobe.
4 A software system called TOOGUIDE provides
5 treatment recommendations such as number, length,
6 and position of the optical fibers in the prostate.

7 The optical fibers are inserted in the
8 prostate through a perineal template. Next,
9 TOOKAD, at a dose of 4-milligram per kilogram, is
10 administered intravenously for 10 minutes. The
11 drug circulates in the vascular system and remains
12 inactive until the optical fibers are turned on.
13 The fibers provide low energy, non-thermal,
14 near-infrared illumination for 22 minutes and
15 15 seconds. The drug is activated only in the
16 illuminated lobe.

17 The light-activated drug reacts with oxygen
18 in circulating red blood cells, which then triggers
19 a cascade of events. Vasodilation is immediately
20 followed by vasoconstriction and subsequently blood
21 flow arrest in the target lobe, as you can see in
22 the model shown here. The optical fibers are

1 removed once illumination is completed. Total
2 operating room time is approximately 2 hours.

3 Because TOOKAD is a photosensitizing drug,
4 it is important that patients avoid intense
5 infrared light perioperatively. To mitigate this
6 risk, patients are covered with blankets and
7 transferred to a dimly-lit recovery room as they
8 awaken from anesthesia, where they remain for
9 6 hours until they are ready for discharge.
10 Overall, there is a low potential for phototoxic
11 events, as TOOKAD has a short half-life of
12 approximately 70 minutes.

13 Here is an example of an untreated prostate.
14 On the right, we see the same patient's prostate
15 7 days after VTP treatment, where the treated is
16 clearly visible as a result of the
17 devascularization within the treated lobe. The
18 necrosis is contained within the anatomic contour
19 of the gland and is confirmed by biopsy. It is
20 important to note that the ability to biopsy the
21 prostate is not hindered by any treatment effects
22 and histological interpretation is not confounded.

1 It is easier to see the treatment effect of
2 TOOKAD in other indications. Here is an example of
3 a VTP treatment in an upper urinary tract
4 carcinoma, which is an indication currently under
5 IND investigation. It's important to realize the
6 proximal ureter is a very delicate and thin-walled
7 structure.

8 The ureteral tumor was treated with TOOKAD
9 VTP on day 0. By day 7, in the middle image, the
10 treatment effect was apparent. By day 30 on the
11 right, there is complete tumor ablation while
12 sparing the surrounding normal tissue. The
13 ureteral lumen and wall completely recover with no
14 scarring or stricture.

15 In summary, prostate hemiablation with
16 TOOKAD VTP is a non-thermal procedure that can be
17 safely performed at an outpatient surgery setting.
18 Treatment consists of accurate optical fiber
19 placement within the gland, IV TOOKAD
20 administration, followed by light activation and
21 laser illumination within the prostate. This leads
22 to targeted necrosis of the entire tumor-bearing

1 lobe while preserving the surrounding tissue and
2 organ function.

3 Thank you very much. Next, I'd like to
4 invite Dr. Boodée to the lectern.

5 **Applicant Presentation - Henri Boodée**

6 DR. BOODÉE: Thank you, Dr. Shore.

7 My name is Henry Boodée, and I'm a board
8 certified urologist with more than 15 years of
9 clinical practice experience prior to joining
10 industry. I'm currently the head of U.S. medical
11 affairs and clinical development at STEBA Biotech.

12 I will present the efficacy and safety data
13 from Study 301 supporting our proposed indication,
14 demonstrating that TOOKAD VTP treatment results in
15 clinically meaningful and statistically significant
16 reductions in local disease progression and
17 conversion to radical therapy when compared to
18 active surveillance.

19 Study 301 is a multicenter, phase 3,
20 randomized, open-label trial conducted in 413
21 patients. Patients were randomized to either
22 TOOKAD VTP plus active surveillance or active

1 surveillance alone. Patients were diagnosed using
2 the standard of care at the time, which included
3 TRUS biopsy. Patients were biopsied at both 12 and
4 24 months, and the primary analysis was conducted
5 after 24 months of follow-up. Patients are being
6 followed long term for an additional 5 years as the
7 study is still ongoing.

8 Per the protocol, more than one TOOKAD
9 treatment was allowed for patients in the TOOKAD
10 within the 24-month period. The study had
11 co-primary endpoints. The first was to assess the
12 difference in the rate of local disease progression
13 over 24 months. Progression was defined as
14 presenting with at least one of the events shown
15 here.

16 The second co-primary endpoint was the rate
17 of absence of cancer anywhere in the prostate at 24
18 months, meaning that the biopsy results all had to
19 be negative or free of cancer in order to be
20 counted toward this endpoint. All biopsies were
21 sent to a central lab and reviewed to ensure
22 consistency of the co-primary endpoint analysis,

1 but no treatment decisions were made based on their
2 assessment.

3 The study also included several secondary
4 efficacy endpoints. These were not controlled for
5 type 1 error. In the interest of time, we will
6 focus on key secondary endpoints. First, we
7 evaluated if patients initiated any radical or
8 systemic treatment for prostate cancer. We also
9 assessed the proportion of patients with the
10 extension to clinical T3, metastasis, and prostate
11 cancer-related death.

12 Next, let's look at study outcomes. Patient
13 demographics were balanced across groups. The mean
14 age was 63 and the majority of patients were
15 Caucasian. Baseline disease characteristics were
16 representative of the target population. Most
17 patients had unilateral cancer with a Gleason score
18 of 3 plus 3.

19 Next, let's look at the co-primary endpoint
20 analysis. Both co-primary endpoints were met with
21 high significance. The hazard ratio for local
22 disease progression was 0.34, meaning that

1 treatment with TOOKAD VTP reduced the risk of
2 progression by 66 percent compared to active
3 surveillance. Similarly, patients in the TOOKAD
4 VTP group were significantly more likely to have a
5 negative biopsy throughout the prostate than
6 patients in the active surveillance group.

7 We acknowledge that our endpoints may be
8 affected by the rate of missing biopsies. The rate
9 of missing biopsy at 24 months is 18 percent in the
10 VTP group and 42 percent in the active surveillance
11 group. If we use the 12-month biopsy result when
12 the 24-month biopsy is not available, the actual
13 rate of missing biopsies is 6 percent in the VTP
14 arm and 9 percent in the active surveillance arm.
15 Hence, this is lower and balanced between the two
16 arms.

17 In a post hoc analysis to assess the
18 effectiveness of VTP ablation, we analyzed biopsy
19 results in field in the VTP treated lobe or, for
20 active surveillance, the largest cancer-containing
21 lobe. In the VTP group, 25 percent had a positive
22 in-field biopsy compared to 65 percent in the

1 active surveillance group. Grade group 2 or higher
2 was found in only 10 percent of the VTP group
3 compared to 34 percent in the active surveillance
4 group.

5 Now, we'll focus on the progression
6 endpoint. TOOKAD was effective against each
7 individual parameter that could define progression
8 with the majority of progression being triggered by
9 Gleason pattern greater than or equal to 4.

10 Here, we present the primary analysis of
11 local disease progression by treatment group over
12 the first 24 months. This aligns with the
13 recommendation from the 2018 FDA workshop. By the
14 time of the first post-treatment biopsy at 12
15 months, we can see significant separation between
16 the curves, which is maintained through 24 months.
17 This is consistent with what we observe in the
18 long-term follow-up period, which currently has
19 data available out to 60 months after treatment.

20 In the active surveillance group, the median
21 time to progression is 13.8 months. In the TOOKAD
22 group, median time to progression has still not

1 been reached at 60 months. This durable difference
2 between TOOKAD VTP and active surveillance is
3 clinically and statistically significant as shown
4 by the absolute risk reduction of 28 percent by
5 month 60 with a hazard ratio of 0.39.

6 Now let's move on to the secondary
7 endpoints. There is a link between local disease
8 progression and the decision to convert to radical
9 therapy. This also aligns with the recommendation
10 from the 2018 FDA workshop for a secondary
11 objective. There were substantially fewer patients
12 who converted to radical therapy after TOOKAD VTP
13 than with active surveillance at both 24 and 60
14 months. At 5 years, the absolute difference was
15 20 percent. This corresponds to nearly twice the
16 risk of converting to radical therapy for patients
17 in the active surveillance arm.

18 Overall, TOOKAD VTP reduced the conversion
19 to radical therapy with a hazard ratio of 0.41 and
20 a descriptive p-value less than 0.001. The
21 avoidance or delay of radical therapy implies a
22 clinically meaningful benefit because patients are

1 not exposed to treatment harm.

2 We analyzed the data further to determine if
3 there was any difference between the arms and the
4 decision to receive radical therapy given that this
5 was an unblinded study. Although more patients on
6 active surveillance had disease progression, a
7 similar proportion of patients in both groups
8 received radical therapy after progression.

9 Conversely, while more patients in the
10 active surveillance group received radical therapy,
11 the proportion of patients who develop disease
12 progression before receiving radical therapy
13 remained similar. Therefore, there was no evidence
14 of a difference in treatment decisions between
15 groups.

16 Looking at severe cancer-related events,
17 there were 4 patients in the active surveillance
18 group for which clinical T3 disease was observed at
19 24 months. At month 60, there were 2 patients in
20 the TOOKAD VTP group with clinical T3 disease and 7
21 in the active surveillance group. Metastasis was
22 observed in one patient in each group. As expected

1 in this patient population, there were no prostate
2 cancer-related deaths.

3 In summary, TOOKAD VTP increased the
4 probability of a negative prostate biopsy at 24
5 months and delayed disease progression for men with
6 early prostate cancer. Importantly, treatment with
7 TOOKAD VTP reduced the rate of conversion to
8 radical therapy compared to active surveillance.
9 The durability of clinically meaningful results was
10 supported by long-term follow-up data 5 years from
11 randomization.

12 Now, I'll review the safety results from
13 Study 301. Overall, 95 percent of patients in the
14 TOOKAD VTP group reported an adverse event compared
15 to 55 percent in the active surveillance arm, which
16 is expected given that they received treatment and
17 the active surveillance group did not. These were
18 mostly mild to moderate and self-limiting. At 24
19 months, the majority of cases had resolved without
20 sequelae.

21 Regarding SAEs, these were also mostly mild
22 to moderate, and nearly all the SAEs resolved by 24

1 months. Across both groups, only 3 patients
2 discontinued due to an adverse event. A myocardial
3 infarction was observed approximately 9.5 months
4 after TOOKAD VTP and was unrelated to the drug,
5 device, or procedure. Similarly, the anaphylactic
6 reaction was temporally related to anesthesia and
7 the patient never received TOOKAD.

8 Now, let's look at some adverse events of
9 special interest. As we didn't have consistent
10 follow-up after radical therapy, we are presenting
11 this safety data censored at the time of radical
12 therapy. Urinary symptoms were most commonly
13 reported as grade 1 or 2, with the most common
14 being dysuria, hematuria, and urinary retention.
15 No grade 3 events occurred in either arm in more
16 than 2 percent of patients. However, by 24 months,
17 nearly all events had resolved, and this is
18 consistent with the patient-reported data we
19 collected in our study.

20 We measured IPSS, an internationally
21 recognized voiding symptom tool, as a mean change
22 from baseline over 24 months. While there is an

1 initial increase or worsening in symptoms, this
2 returns to baseline by 6 months. Because more
3 patients in the active surveillance arm had radical
4 therapy, the numbers of patients were no longer
5 comparable, and the results may be introducing
6 bias. Importantly, by 24 months, there is no
7 meaningful difference in symptom scores between
8 TOOKAD VTP and active surveillance.

9 Moving next to erectile dysfunction, there
10 is more erectile dysfunction associated with TOOKAD
11 compared to active surveillance. The majority of
12 erectile dysfunction events were grade 1, which is
13 mild dysfunction that does not require any
14 intervention. This means that men were able to
15 continue sexual activity without assistance.

16 Of particular importance, the rate of
17 grade 3 erectile dysfunction, which is unresponsive
18 to intervention, was low in both groups. Grade 2
19 erectile dysfunction, in which treatment, including
20 PDE5 inhibitors, is indicated, was reported by 16
21 percent of the TOOKAD patients and by 2 percent in
22 the active surveillance group.

1 At 24 months, meaningful recovery of
2 erectile function was observed; 8 percent of men
3 reported grade 2 erectile dysfunction compared to
4 2 percent in the active surveillance arm. At
5 24 months, no grade 3 erectile dysfunction was
6 observed in either arm. This low rate of grade 3
7 events and single-digit percentage of grade 2
8 events in both arms at 24 months is likely why
9 patient-reported outcomes related to erectile
10 function are largely similar between groups.

11 Let's look at these. IIEF erectile function
12 scores worsened after the TOOKAD VTP procedure as
13 expected, however, by 24 months, the scores were
14 similar to those in the active surveillance group.
15 Similar to the IPSS data, bias may have been
16 introduced.

17 Importantly, the literature shows that
18 salvage radical therapy is feasible after TOOKAD
19 VTP if needed. This retrospective study with
20 salvage radical prostatectomy after TOOKAD VTP
21 collected data from 42 patients across the TOOKAD
22 VTP development program. Generally, the outcomes

1 were similar to treatment-naive patients.

2 The radical prostatectomy procedure
3 presented no unusual challenges in 69 percent of
4 patients and 88 percent of patients had
5 undetectable PSA levels at 6 to 12 months after the
6 procedure. Only 12 percent of patients had
7 postoperative complications. Therefore, early data
8 suggests that radical prostatectomy remains an
9 option after TOOKAD VTP.

10 Finally, I'd like to review our confirmatory
11 post-approval Study 306. Study 306 was designed in
12 consultation with the FDA. Eligible patients will
13 include patients with favorable intermediate risk
14 prostate cancer diagnosed with multiparametric
15 MRI-guided biopsy. Study 306 will evaluate
16 objective disease progression and conversion to
17 radical therapy.

18 This confirmatory study will have more
19 consistent follow-up after conversion to radical
20 therapy to quantify the reduction in harm. The
21 primary endpoint will be evaluated over 30 months,
22 secondary endpoints would be evaluated at 30 and

1 72 months, and then long-term follow-up would
2 continue for a total of 10 years since
3 randomization, irrespective of progression or
4 conversion to radical therapy, to evaluate overall
5 survival.

6 Overall, the sample size is 400 patients,
7 which includes approximately 150 patients from the
8 U.S. In the U.S., we are working with the SUO-CTC
9 to ensure efficient enrollment of patients, and in
10 Europe, we are working with the EORTC. The study
11 is ongoing and we are committed to completing it.

12 In conclusion, TOOKAD VTP safety profile is
13 manageable and typically reversible. The reported
14 adverse events were mostly mild to moderate and
15 self-limiting. More erectile function events
16 occurred after TOOKAD VTP compared to active
17 surveillance, however, patient-reported erectile
18 function was similar between groups.

19 Salvage therapy with radical prostatectomy
20 is feasible after TOOKAD VTP. In addition, all
21 results will be further evaluated in our
22 confirmatory study. Finally, STEBA will be

1 providing training for every surgeon using this
2 procedure.

3 Thank you. I'd now like to invite Dr. Gill
4 to the lectern.

5 **Applicant Presentation - Inderbir Gill**

6 DR. GILL: Thank you, Dr. Boodée.

7 My name is Inderbir Gill, and I'm the chair
8 of urology at the Keck School of Medicine at the
9 University of Southern California. I am an unpaid
10 advisor to the sponsor. I'm a practicing robotic
11 surgeon, and along with my team have performed
12 thousands of radical prostatectomy surgeries, and
13 I'm discouraged that there has not been any real
14 improvement over the past two decades in erectile
15 dysfunction or urinary incontinence outcomes.

16 Therefore, I am actively searching for a better way
17 to treat patients with early-stage prostate cancer.

18 First, I would like to take a critical look
19 at the study. As mentioned, the co-primary
20 endpoints rely on accurate and unbiased biopsy
21 collection. This concern was raised due to the
22 number of missing biopsies due to reasons other

1 than conversion to radical therapy, 13 percent and
2 15 percent in the VTP and active surveillance arms.
3 However, this analysis considers only the 24-month
4 ITT analysis and not the 12-month data.

5 When we look at the 12- and 24-month
6 biopsies, only 6 percent of patients in the VTP and
7 9 percent in the active surveillance arm had a
8 missing biopsy. I believe these are very
9 respectable numbers.

10 FDA also raised concern regarding pathologic
11 interpretation of biopsy outcomes post VTP. I do
12 not share this concern. The concordance rate
13 between the local and central review was 85 percent
14 for progression and 92 percent for presence of
15 cancer, both well above the 80 percent concordance
16 often used as the consensus threshold in
17 inter-observer variability studies.

18 Sampling error is inherent in any biopsy
19 method, and this raises the potential issue of
20 false negative results. The 13 percent false
21 negative rate was expected, and in fact lower than
22 the active surveillance literature. Additionally,

1 this sampling error is symmetric across both arms
2 of the study.

3 FDA indicates that the decision to convert
4 to radical therapy was subjective. This is true.
5 Aside from pathologic progression, the triggers for
6 conversion were not precisely captured in this
7 study, which could be a source of bias, however,
8 the proportion of men in whom progression was the
9 driver of conversion to radical therapy was similar
10 in both arms.

11 Study 301 did not specify follow-up for harm
12 after conversion to radical therapy, so it is just
13 not possible to directly compare the ultimate
14 morbidities between the two arms after conversion.

15 That said, Study 301 did thoroughly
16 characterize the morbidity profile of VTP compared
17 to active surveillance before conversion to radical
18 therapy, and as a surgeon and a clinician, I am
19 very comfortable with the morbidity profile of
20 TOOKAD, nor do I find it surprising. Certainly, it
21 is far less than that of radical therapy. Although
22 Study 301 has some limitations, I find the data

1 meaningful and reliable.

2 Finally, I was concerned about repeat VTP
3 treatments and if doing would increase procedural
4 morbidity. The sponsor provided an analysis
5 comparing the adverse events of interest occurring
6 at any time during the surgery in men having only
7 one VTP, shown to the left of the Y-axis, and men
8 having more than one VTP, to the right of the
9 Y-axis.

10 Reassuringly, there does not appear to be an
11 increase in adverse events with more than one VTP
12 as shown here. Most importantly from my
13 perspective, there is no increased in grade 3
14 complications, which is what truly impacts my
15 patients. This analysis puts to rest my concerns
16 that repeat VTP might increase procedural
17 morbidity.

18 In addition to FDA's concerns, there are
19 some limitations of focal therapy in general.
20 First, it may not completely ablate all the cancer.
21 For TOOKAD, 10 percent of the in-field biopsies
22 were positive for clinically significant disease,

1 but recognize this is a limitation of all ablative
2 therapies. Note, even radical prostatectomy
3 surgery has a 10 percent positive margin rate for
4 organ-confined disease.

5 TOOKAD has been shown to delay but may not
6 always avoid radical therapy. Also, overuse at
7 either end of the disease spectrum is a concern for
8 me. Having an option like TOOKAD cannot become
9 licensed to treat all men with early-stage disease,
10 therefore, guidelines need to be established to
11 inform surgeons on the appropriate use of VTP.

12 Certainly with literally anything you'd do to the
13 prostate, even a mere prostate biopsy, there are
14 going to be local transient urinary sequelae, such
15 as hematuria and retention.

16 Now, despite the limitations just discussed,
17 as a surgeon, there are quite a few things I like
18 about VTP. I believe the delicate neighborhood of
19 the prostate, the way it is anatomically ensconced
20 within the neurovascular bundle and its proximity
21 to the sphincter, lends itself naturally to in situ
22 ablation.

1 VTP is non-thermal and can be targeted with
2 millimeter accuracy. As a result, collateral
3 damage to the external sphincter and neurovascular
4 bundle is minimized. In addition, its non-thermal
5 nature allows all locations within the prostate to
6 be treated, unlike other ablative therapies.

7 VTP can also be used to retreat. Because it
8 is non-thermal, there is likely to be minimal very
9 prostatic reaction, allowing salvage radical
10 therapy to be performed without undue morbidity.
11 Finally, the technique is a simple outpatient
12 procedure that is easy to learn and well within the
13 wheelhouse of most urologists.

14 TOOKAD VTP is the missing reasonable bridge
15 between the binary extremes of active surveillance
16 and radical therapy. It is more effective than
17 surveillance in controlling the cancer and causes
18 less morbidity than radical therapy. I believe it
19 should be an option for men who are candidates for
20 active surveillance as defined by the NCCN
21 guidelines and consistent with the proposed
22 indication. I would much rather thoughtfully

1 provide my patients with an option like TOOKAD VTP
2 and not have to do a radical prostatectomy unless
3 absolutely necessary.

4 In terms of how I see TOOKAD fitting into
5 contemporary clinical practice, it is a good option
6 for high-volume, grade 1 disease based on
7 transrectal ultrasound biopsy. For lower volume
8 group 1 disease, it would be the selected patient
9 who is at higher risk for progression or someone
10 who has already demonstrated progression. I would
11 also recommend TOOKAD for MR-biopsy detected grade
12 group 2 disease that is unilateral and small
13 volume.

14 Now let me summarize the positive
15 benefit-risk profile of TOOKAD VTP. VTP
16 significantly reduces disease progression, leading
17 to lower rates of conversion to radical therapy,
18 which is maintained out to 5 years. This
19 represents a major treatment benefit. In essence,
20 we are setting the clock back on the disease by
21 delaying or avoiding radical therapy and its
22 attendant morbidities.

1 Here is a high-level overview of functional
2 results from a large prospective randomized trial
3 of radiotherapy versus radical prostatectomy, the
4 ProtecT study. In comparable populations, the rate
5 of urinary incontinence is 4 percent with radiation
6 and 19 percent with prostatectomy.

7 Now, we all know that incontinence is a
8 major issue, one that will get your attention every
9 time it happens, day or night. ED is even higher
10 at 34 percent after radiation and 47 percent after
11 prostatectomy, and this is level 1 evidence.

12 Now let's look at the TOOKAD data from Study
13 301 that was presented earlier. The incontinence
14 rate is truly excellent, only 1.6 percent, the rate
15 of ED a very respectable 8 percent with active
16 surveillance at 2 percent. Note that this is
17 adverse-event reporting, which reflects the change
18 from baseline in both studies.

19 Overall, I am satisfied with the safety
20 profile of TOOKAD VTP for my patients. The side
21 effects are substantially lower compared to radical
22 therapy, making it a great treatment option. I'm

1 enthusiastic about bringing TOOKAD VTP to my
2 patients, and I truly believe its benefits outweigh
3 its risks. The benefits are an absolute 30 percent
4 decrease in progression and a 23 percent decrease
5 in conversion to radical therapy. The cost is a
6 minimal absolute increased risk of 0.4 percent for
7 incontinence and a 6 percent increase in erectile
8 dysfunction. Thank you for your time.

9 DR. HOFFMAN: Thank you.

10 We will now proceed with the presentation
11 from the FDA, Dr. Agrawal.

12 **FDA Presentation - Sundeep Agrawal**

13 DR. AGRAWAL: Good morning. My name is
14 Sundeep Agrawal, and I'm a medical
15 hematologist-oncologist and medical officer on the
16 clinical review team for this new drug application
17 for TOOKAD. The FDA review team additionally
18 consists of the following members of the clinical
19 and statistical teams, division leadership, and
20 project management.

21 I will discuss the results of trial PCM301
22 alongside the key review issues which are the

1 following: Are the endpoints appropriate to
2 characterize benefit? Is the safety profile of
3 TOOKAD acceptable? Do uncertainties allow for
4 reasonable assessment of benefit-risk? I will then
5 summarize the FDA position and read the question
6 for the committee.

7 The study design of PCM301 has already been
8 discussed. FDA would like to reiterate that the
9 sponsor added a study extension to collect an
10 additional 5 years of data. However, as biopsy and
11 safety data were not collected in a uniform and
12 rigorous manner in the extension study, FDA
13 considers only the primary 2-year study as the
14 basis for this application. I will begin by
15 reviewing the endpoints used in this trial and
16 whether they are appropriate to characterize
17 benefit.

18 Co-primary endpoint A was defined as the
19 absence of definitive cancer at 2 years.
20 Forty-nine percent of patients in the TOOKAD arm
21 and 14 percent of patients in the active
22 surveillance arm had no cancer on biopsy at

1 2 years, a rate difference of 35 percent.

2 Missing biopsies were noted on both arms.
3 Approximately 18 percent in the TOOKAD arm and
4 42 percent of patients in the active surveillance
5 arm had missing biopsy data. This includes
6 6 percent of patients on TOOKAD and 27 percent of
7 patients on active surveillance who did not have
8 biopsy data available because they underwent
9 definitive treatment, so no further biopsy was
10 possible.

11 FDA also notes that in assessing this
12 endpoint, there was a potential for unblinding of
13 the central pathologist due to possibility of
14 necrotic changes on histology specimens in the
15 TOOKAD arm.

16 FDA notes issues with the utility of this
17 endpoint. The surveillance arm is expected to have
18 a hundred percent rate of cancer given no
19 intervention in these patients. Thus, the utility
20 of comparing this to the absence of cancer in
21 TOOKAD patients is unclear. Additionally, the
22 result of absence of cancer in itself will not

1 alter clinical management of these patients. Thus,
2 the clinical utility of this endpoint is unclear.

3 There are also uncertainties in the
4 assessment of the endpoint. Despite not undergoing
5 treatment, 14 percent of patients on the active
6 surveillance arm had a negative biopsy at 2 years.
7 These are presumed to be false negatives, as
8 prostate cancer is not expected to regress without
9 treatment.

10 Many patients on the active surveillance arm
11 had either a false negative biopsy or missing
12 biopsy data at 2 years. Limitations to biopsy such
13 as false negatives and misattribution of grade have
14 been documented in other series of low-risk
15 prostate cancer patients.

16 These biopsy issues do not appear to be due
17 to the sponsor's trial conduct, however, these
18 issues make this endpoint difficult to interpret
19 nonetheless. FDA had prior concerns and did not
20 agree to the use of this endpoint for PCM301 and
21 communicated these concerns to the sponsor prior to
22 the start of this trial.

1 Co-primary endpoint B evaluated time to
2 disease progression. FDA would like to note that
3 the sponsor uses the term "rate of local disease
4 progression," while FDA uses the term "time to
5 disease progression." Progression criteria used by
6 the sponsor to define a progression event is listed
7 on the right-hand side.

8 This slide provides a Kaplan-Meier plot for
9 co-primary endpoint B where an event was considered
10 as progression to moderate or higher risk cancer at
11 2 years follow-up. Using a log rank test, the
12 observed differences are unlikely due to chance,
13 and the p-value is statistically significant. The
14 observed hazard ratio is 0.34. Approximately 72
15 percent of patients on the TOOKAD arm and
16 42 percent on the active surveillance arm remain
17 progression-free.

18 FDA notes that since biopsies were only done
19 annually and patients were only followed for
20 2 years, the trial may not have been able to
21 accurately estimate the median time to progression,
22 including the medians. By design, this trial only

1 followed patients for 2 years, so most patients
2 without progression events were censored at or
3 shortly after 24 months.

4 FDA performed sensitivity analyses of
5 co-primary endpoint B, evaluating a modified
6 definition of pathologic upgrade to align with
7 criteria for upgrade to unfavorable risk and/or a
8 higher risk of prostate cancer. FDA also conducted
9 an analysis including patients on each arm missing
10 month 24 biopsies and considered them as having had
11 an event. Results from both of these analyses are
12 consistent with the primary endpoint results.

13 The table on the left lists the enrollment
14 criteria for PCM301. The table on the right lists
15 the criteria used to define a progression event
16 alongside the percent of patients in each arm who
17 had a progression event by each criterion. The
18 most common criteria met to define a progression
19 event were increase in Gleason score, more than
20 3 positive cores, or a cancer core length greater
21 than 5 millimeters.

22 Some patients met multiple criteria for

1 progression. Any criterion, even minimally greater
2 than the enrollment criteria, defined a progression
3 event for this endpoint. Some progression criteria
4 that contribute to the composite endpoint may not
5 be objective triggers for intervention.
6 Additionally, small incremental changes from
7 enrollment parameters may meet progression
8 criteria. Even if these changes would not change
9 clinical decision making, the rationale for the
10 selection of these criteria is unclear.

11 Time to disease progression is affected by
12 uncertainties related to biopsy results.
13 Misattribution of grade and false negatives can
14 affect interpretation of biopsy results in addition
15 to other issues. For example, approximately
16 30 percent of patients in the active surveillance
17 arm had a decrease in positive core number and
18 decrease in core length with cancer despite no
19 intervention. Additionally, the accuracy of biopsy
20 after TOOKAD is unknown. It is possible that
21 post-TOOKAD scarring may affect biopsy samples.

22 FDA also notes the open-label nature of this

1 trial. As noted earlier, although biopsy specimens
2 are reviewed by central pathologists blinded to
3 treatment assignments, true blinding may have been
4 difficult due to the presence of characteristic
5 changes on post-TOOKAD specimens. These issues
6 introduce uncertainty into the assessment of the
7 time to disease progression endpoint.

8 The value of a disease progression endpoint
9 such as co-primary endpoint B is in providing an
10 objective trigger for intervention with a morbid
11 procedure such as radical prostatectomy or
12 radiation therapy. However, in PCM301,
13 approximately 50 percent of patients who progressed
14 by protocol criteria on both arms did not undergo
15 definitive therapy by month 24, and several
16 patients underwent definitive therapy but had no
17 disease progression.

18 The decision to undergo definitive therapy
19 has an inherent degree of subjectivity based on the
20 patient and physician preference, however, the
21 level of variability noted in PCM301 makes
22 interpreting this endpoint challenging.

1 Time to definitive therapy was a secondary
2 endpoint. It was not controlled for type 1 error
3 and is considered descriptive only. Time to
4 definitive therapy was improved in the TOOKAD arm
5 compared to the active surveillance arm. At
6 2 years, approximately 6 percent of patients in the
7 TOOKAD arm and 29 percent of patients in the active
8 surveillance arm went on to receive definitive
9 therapy. The hazard ratio is 0.17.

10 FDA notes the following limitations of this
11 analysis. The trial was open label. This analysis
12 was not adjusted for multiple testing. The
13 decision to undergo definitive therapy with firm
14 criteria to undergo prostatectomy was not
15 prespecified in the protocol and approximately 50
16 percent of progressors did not undergo definitive
17 therapy at 2 years.

18 In summary of efficacy, both prespecified
19 co-primary endpoints were met, however, the
20 clinical relevance of the endpoints is unclear.
21 For endpoint A, absence of cancer does not lead to
22 a change in patient management, and thus the

1 clinical relevance of this endpoint is unclear.
2 For endpoint B, time to progression, there was
3 limited 2-year follow-up. Correlation with
4 long-term outcomes is not known.

5 The clinical value of this endpoint is also
6 uncertain as defined, as only 50 percent of
7 progressors subsequently underwent definitive
8 therapy at 2 years. Both endpoints relied on
9 biopsy data, but there are considerable false
10 negatives in sampling errors in this setting that
11 make interpretation of these endpoints difficult,
12 and PCM301 was an open-label trial, which can
13 potentially introduce bias.

14 I will now review the safety profile of
15 TOOKAD. The sponsor has previously reviewed safety
16 in trial PCM301. This graph depicts adverse events
17 occurring in 10 percent or more of patients in
18 either arm. Ninety-five percent of patients in the
19 TOOKAD arm had an adverse event compared to 55
20 percent in the active surveillance arm. Grade 3
21 and 4 events were noted in 22 percent of patients
22 on TOOKAD compared to 10 percent of patients on

1 active surveillance.

2 Most acute and subacute toxicities were
3 related to the genitourinary tract and included
4 hematuria, dysuria, and perineal pain. Most of
5 these events resolved, however, long-term adverse
6 events such as erectile dysfunction remained
7 unresolved in a considerable number of patients.

8 Sexual and urinary dysfunction can impact
9 patients' quality of life after undergoing
10 treatment for localized prostate cancer. A review
11 of published literature demonstrates large
12 discrepancies in prevalence rates of these
13 toxicities after definitive therapy. Discrepant
14 rates are due to several methodologic differences
15 in studies that have been done to assess these
16 toxicities.

17 In addition, rates varied based on several
18 factors such as age, pretreatment sexual function,
19 and the treatment received. In contemporary series
20 at 2 years after definitive therapy for localized
21 prostate cancer, rates of sexual dysfunction range
22 from 14 percent to as high as 90 percent. Urinary

1 dysfunction rates range from 10 percent to as high
2 as 70 percent.

3 In comparing TOOKAD to active surveillance
4 at 2 years, unresolved erectile dysfunction was
5 noted in 23 percent of patients receiving TOOKAD
6 versus 10 percent with active surveillance. Rates
7 of unresolved urinary incontinence were similar to
8 each other between arms, however, many patients
9 stopped reporting adverse events after undergoing
10 definitive therapy.

11 Of the 64 patients who underwent definitive
12 therapy in the active surveillance arm, 40 of them
13 did not report any adverse event afterwards. This
14 represents 19 percent of patients overall in this
15 arm. In the TOOKAD arm, only 12 patients had
16 definitive therapy and 5 of these patients did not
17 report any adverse event thereafter, representing
18 2 percent of the patients overall in the TOOKAD
19 arm.

20 Thus, there was a disproportionate number of
21 patients underreporting adverse events in the
22 active surveillance arm. The true incidence of

1 long-term toxicities in the active surveillance arm
2 is unknown, and this makes an accurate comparison
3 between arms difficult.

4 Patient-reported outcomes were exploratory
5 endpoints in this trial. The IIEF-15 and IPSS are
6 instruments that measure erectile function and
7 urinary symptoms, respectively. The EQ-5D is a PRO
8 instrument used to assess quality of life. Because
9 the EQ-5D is a generic tool used for health
10 assessment and was assessed at only two
11 post-baseline time points, the FDA analysis focused
12 on the IIEF-15 and IPSS results.

13 These questionnaires were administered at
14 baseline 7 days after TOOKAD in patients on the
15 TOOKAD arm, and at months 3, 6, 9, 12, and 24.
16 Compliance rates were greater than 90 percent in
17 both arms early in the trial, but by month 24,
18 compliance rates fell below 80 percent in the
19 active surveillance arm. There was considerable
20 missing PRO data in patients undergoing definitive
21 therapy with disproportionately more missing data
22 in patients on the active surveillance arm compared

1 to TOOKAD.

2 These graphs represent mean changes from
3 baseline over time for urinary symptoms on the left
4 and for erectile function on the right. The solid
5 red line denotes TOOKAD and the dotted line is the
6 active surveillance arm. Please note FDA has
7 oriented both graphs so that a higher change from
8 baseline in both graphs represents worsening
9 symptoms.

10 On the left is the summary score for urinary
11 symptoms. The TOOKAD arm demonstrates increased
12 patient-reported urinary symptoms at the 3-month
13 time point after the procedure, but then this
14 appears to improve. In the active surveillance
15 arm, patient-reported urinary symptoms worsen
16 steadily after month 9. At month 24, results
17 appear to favor the TOOKAD arm.

18 On the right is the summary score for
19 erectile function. Patients on the TOOKAD arm
20 reported worsening erectile function that does not
21 return to baseline. In the active surveillance
22 arm, erectile dysfunction gradually increases

1 throughout the course of the trial partially due to
2 some of these patients undergoing definitive
3 therapies with resulting adverse events captured
4 via PROs. At month 24, the TOOKAD arm and the
5 active surveillance arm appear to demonstrate
6 comparable mean changes from baseline.

7 FDA notes the following limitations in PRO
8 reporting from this trial. Some relevant reported
9 adverse events were not assessed. There were
10 limited assessments of acute and long-term
11 toxicity. There were few assessments from baseline
12 to month 6 to capture acute toxicity and limited
13 long-term follow-up.

14 There was also considerable missing data.
15 Completion rates were lower on the active
16 surveillance arm compared to TOOKAD at month 24,
17 and many patients stopped reporting after
18 definitive therapy.

19 PRO analyses were not planned to control for
20 type 1 error and are thus descriptive only. Based
21 on these limitations, there is significant residual
22 uncertainty regarding the difference in the level

1 of patient-reported symptomatic morbidity between
2 TOOKAD and active surveillance.

3 In summarizing safety, it is important to
4 again note the context. In the low-risk, localized
5 prostate cancer population, the proposed benefit of
6 TOOKAD is to limit or prevent morbidity associated
7 with definitive therapies. Reducing long-term
8 toxicity is important to characterize TOOKAD's
9 benefit.

10 Toxicity must be evaluated in the context of
11 active surveillance. Some patients on active
12 surveillance receive definitive treatment with
13 resulting toxicity, but all patients receiving
14 TOOKAD have the risk of toxicity upfront. In
15 PCM301, there was a higher incidence of toxicity on
16 the TOOKAD arm compared to active surveillance with
17 higher rates of all-grade, grade 3 and 4, and
18 erectile dysfunction events.

19 There was also disproportionate missing
20 safety and PRO data in the active surveillance arm
21 that makes an accurate comparison between arms
22 difficult.

1 Limited follow-up make long-term outcomes
2 unclear, as there is a potential for compromised
3 cure rates due to treatment delay of definitive
4 therapy and limited data on outcomes of surgery and
5 radiation treatments following TOOKAD.

6 I will now summarize the uncertainties from
7 PCM301 and whether they allow for a reasonable
8 assessment of benefit-risk. FDA review notes
9 several uncertainties in the data for both efficacy
10 and safety that make an assessment of benefit-risk
11 difficult.

12 In terms of efficacy, the absence of cancer
13 endpoint and the disease progression endpoint may
14 be affected by unreliable biopsy data. In terms of
15 safety, there were no adverse events or PRO data
16 recorded after definitive therapy for many
17 patients. Thus, the true incidence of long-term
18 toxicities in these patients is unknown. It is
19 difficult to quantify the effect of missing data on
20 study conclusions.

21 The applicant has discussed plans with the
22 FDA regarding an additional study entitled PCM306,

1 a randomized trial of TOOKAD versus active
2 surveillance in favorable, intermediate risk,
3 localized prostate cancer. The primary endpoint of
4 this study will evaluate objective progression of
5 cancer. A key secondary endpoint will evaluate
6 conversion to radical, local, or systemic therapy.

7 There are proposed measures in place to
8 better collect data on this trial and with longer
9 follow-up. PSA testing and biopsy results will be
10 obtained at defined intervals. Patients in both
11 arms will be required to have follow-up, MRI-guided
12 biopsies at 12, 24, 42, and 60 months, which will
13 allow for more long-term outcomes data.

14 Longer follow-up will include collection of
15 safety and PRO data to better elucidate the
16 long-term safety profile. Given that morbidity is
17 an outcome of interest, PRO and safety data will be
18 critical; and as noted earlier, there will be
19 prespecified criteria for determining referral to
20 definitive therapy, which may help reduce the
21 subjectivity associated with this decision. This
22 in turn will serve to better support the endpoints.

1 In summary, PCM301 met both of its
2 co-primary efficacy endpoints, however, clinical
3 relevance of these efficacy endpoints is unclear.
4 Progression of disease as defined does not clearly
5 translate into patients subsequently undergoing
6 definitive therapy. Acute and subacute toxicity
7 reported as adverse events and patient-reported
8 outcomes are worse on the TOOKAD arm.

9 Erectile function at month 24 appears worse
10 with TOOKAD, with 23 percent of patients having
11 unresolved erectile dysfunction. Missing data,
12 false negatives, and other sampling issues make
13 accurate assessment of results difficult. The
14 open-label design of this trial could introduce
15 potential bias into the efficacy assessment, and
16 other measures such as patient-reported outcomes,
17 and long-term efficacy and safety outcomes are
18 unknown.

19 Our question to the committee is as follows:
20 Do the results of a PCM301 represent a favorable
21 benefit-risk profile for TOOKAD in patients with
22 low-risk, early-stage prostate cancer? Thank you.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Clarifying Questions to Presenters

DR. HOFFMAN: We're a few minutes early, which is good. We will now take clarifying questions for the presenters. Please remember to state your name for the record before you speak, and if you can, please direct questions to a specific presenter. I want to start with two questions for Dr. Boodée.

I'm sorry. If you want to ask a question, try to indicate with your hand up to Lauren, so she'll get a list of who's asking.

One question was, with respect to radical prostatectomy after TOOKAD therapy, feasibility said 69 percent of the radical prostatectomies presented no unusual challenges. I'd like to know about the 31 percent that did present some unusual challenges. The other was that I noted early in the presentation that some patients underwent this procedure more than once, and I was wondering what prompted that. I presume it was in the same lobe both times or however many times that it was.

DR. REWCASTLE: Sure. To start, I think to

1 discuss the difficulty in prostatectomy that's
2 observed both with a normal prostate as well as
3 what was observed in the literature and reported,
4 Dr. Gill could speak to this quite well.

5 DR. GILL: Inderbir Gill from Los Angeles.
6 Radical prostatectomy when performed in the salvage
7 scenario is more challenging than when performed in
8 the upfront scenario. Having said that, I don't
9 have any personal experience with post-VTP radical
10 prostatectomy.

11 That said, I do have a lot of experience
12 with post-radiation failure, radical prostatectomy,
13 post hemi-gland ablation salvage prostatectomy. In
14 general, the hemi-gland or partial gland ablation
15 prostatectomy is significantly easier to do than a
16 whole-gland therapy such as radiation, for example,
17 because the adhesions between the prostate and the
18 rectum are limited to one side.

19 Therefore, the contralateral side is
20 virtually untouched and allows you to go from known
21 to unknown, thereby being able to do a nice nerve
22 sparing even in the presence of post hemi-gland

1 ablation. Typically, a salvage prostatectomy after
2 radiation, nerve sparing is typically not an
3 option.

4 DR. HOFFMAN: And the question about doing
5 this more than once?

6 DR. REWCASTLE: Sure. Within the trial, you
7 were allowed to have more than one treatment, so
8 there are different classifications. First, you'd
9 have a patient who had unilateral disease and had a
10 unilateral VTP. There are also subjects who had a
11 bilateral initial diagnosis, and they would have
12 had two procedures. So you don't ablate the entire
13 prostate at once; you ablate one side, and then
14 subsequently ablate the contralateral side.

15 I can show you the breakdown of subjects
16 here. Of the 163 patients who had unilateral VTP,
17 124 of them, that's all they got, and that was
18 throughout the trial; 29 of them had a
19 contralateral de novo VTP, the results of the
20 12-month biopsy.

21 In terms of retreatment, 8 patients had that
22 previously treated lobe treated and 2 had a

1 bilateral treatment after their initial. Of those
2 subjects, the 33 who had the staged bilateral VTP,
3 29, that was the total VTPs they received, and then
4 4 had a unilateral treatment as well.

5 You asked what the motivation was. It was
6 either an initially prescribed unilateral bilateral
7 or following that 12-month biopsy to guide the
8 treatment decision.

9 DR. HOFFMAN: Dr. Rini?

10 DR. RINI: I have a follow-up question, I
11 think, to what Dr. Hoffman did about post-procedure
12 radical or definitive therapy. There were I think
13 42 patients in the VTP arm who had definitive
14 therapy and I think 47 surveillance patients.
15 Dr. Gill alluded to this, but do you have specific
16 details about the toxicity in each of those
17 populations?

18 DR. REWCASTLE: We didn't include that
19 specifically. It's in a literature report of 42
20 subjects. After the break, I could pull the
21 adverse events of that to give you more detail if
22 you'd like.

1 DR. RINI: Well, you mentioned, again as
2 Dr. Hoffman mentioned, 69 didn't have
3 complications, so you must have data on the
4 complications that did occur. It seems like that's
5 a main point for FDA, if this procedure somehow
6 makes radical more dangerous or something. So
7 that's the nature of the question, if there are
8 details.

9 DR. REWCASTLE: I can ask Dr. Coeytaux to
10 respond to this, please.

11 DR. COEYTAUX: Emmanuel Coeytaux, STEBA
12 Biotech. The 69 percent is post-procedural report
13 of the feasibility of the procedure. When we
14 discussed with the experts, they were telling us if
15 you would take naive populations, you would
16 probably have 2 sets that would be considered easy
17 and one activity difficult because of a variety of
18 situations that present.

19 Then in terms of the safety, the 12-person
20 postoperative complications is something that is
21 also seminal to what you would see in
22 treatment-naive populations.

1 DR. RINI: I was just asking for any more
2 specific details on what exactly those
3 complications were.

4 DR. REWCASTLE: So the original trial, which
5 was designed -- we designed a trial in 2010. In
6 2018, we really got clarity with the
7 multidisciplinary meeting that the FDA hosted,
8 which I think was a great step forward, and really
9 changed our thinking to let's follow irrespective
10 of what happens in the pathway.

11 We were really, in the design of 301, most
12 closely looking at what happens to the patient in
13 terms of biopsies of binary event progression as
14 well as conversion of radical therapy. In our
15 Study 306, we intend to follow that very closely;
16 and really, nobody's done this in great detail, so
17 I think that will provide better information.

18 DR. RINI: Thank you.

19 DR. HOFFMAN: Dr. Song, do you have a
20 follow-up to that part or is it separate?

21 DR. SONG: I have a different question.

22 DR. HOFFMAN: Okay. Dr. Hussain.

1 DR. HUSSAIN: Thank you. I have several
2 questions. I'm going to lump them together. One
3 of them is, with regard to the study design, was
4 there a central path review at baseline required?

5 The other question is the study design
6 overall. Considering, as was presented, that the
7 standard of care treatment for these patients could
8 be observation or therapy, why was there no active
9 control included? Because at the end of the day,
10 this is a treatment intervention, and to
11 demonstrate efficacy and safety, it would be
12 important to say how does it correlate there. And
13 then, where was this study conducted?

14 DR. REWCASTLE: First question, if there's a
15 central pathology review at baseline? No, there
16 wasn't. The central pathology review was based on
17 a 24-month biopsy -- or all of the follow-up
18 biopsies. But that information fed the assessment
19 of the endpoints; it was not part of the treatment
20 decision. So uniformly, it was the local biopsy
21 decisions that drove the treatment.

22 DR. HUSSAIN: Then that could add imbalance

1 between the arms if you actually did not control
2 for the primary eligibility criteria of the
3 central, of the Gleason score.

4 DR. REWCASTLE: It could. Nobody has done a
5 trial like this before and randomized to a novel
6 prostate cancer therapy. Having a further delay of
7 your biopsy to reading it, we looked at potential
8 more headwind for patients, so there is a bit of a
9 balance there.

10 Your second question was?

11 DR. HUSSAIN: The question was, considering
12 that the standard of care for these patients, what
13 you presented and obviously the FDA officer
14 presented, is either you treat or you observe, why
15 was there not an active treatment control arm?

16 DR. REWCASTLE: It gets more difficult. As
17 was discussed, a lot of our endpoints are based on
18 biopsy. If we had a radical prostatectomy, you
19 don't have anything to biopse [ph] anymore, and
20 then how would you compare with biochemical markers
21 versus biopsy? So your metric would be different,
22 and as well, the metrics for radiation are

1 different as well in terms of interpretation of
2 biopsies.

3 Really, our goal here was to focus against
4 active surveillance and see if we can find the
5 middle ground, but certainly that is an interesting
6 potential design.

7 DR. HUSSAIN: And when was it conducted?
8 [off mic.]

9 DR. REWCASTLE: And when was it conducted?
10 It was conducted in 2011 and '12, and it was
11 conducted in Europe at 47 centers.

12 DR. HOFFMAN: Dr. Siddiqui?

13 DR. SIDDIQUI: I was hoping for a
14 clarification. In the proposed
15 indication -- sorry, my name. Minhaj Siddiqui.
16 I'm a urologist, University of Maryland, and this
17 is to whoever feels they can best address the
18 question.

19 The proposed indication has
20 unilateral -- the study we saw was for grade group
21 1 unilaterally focused, and we saw a lot of data
22 presented on that topic. The proposed indication

1 also includes unilateral grade group 2. The thing
2 is that one of the primary outcomes, the
3 progression event is defined as presence of grade
4 group 2, so how do you handle this population,
5 which is already grade group 2?

6 DR. REWCASTLE: In terms of handling that
7 population, it's the difference in the biopsy
8 method that makes a big difference in the
9 interpretation. I think Dr. Gill can speak to this
10 from a clinical perspective.

11 DR. GILL: Inderbir Gill. The point here is
12 that when transrectal ultrasound diagnoses low-risk
13 prostate cancer, there is potentially a good third
14 of the patients who already have grade group 2
15 disease; it just was not identified on the
16 transrectal ultrasound biopsy.

17 In support of that, I will point out the
18 fact that the 24-month biopsy in the active
19 surveillance arm showed a 12- and 24-month and the
20 active surveillance arm showed 34 percent of
21 patients actually had grade group 2 disease. That
22 would be like a confirmatory biopsy that we would

1 use in clinical practice; 34 percent actually had
2 grade group 2 disease, it just was not identified
3 on the baseline transrectal ultrasound-guided
4 biopsy.

5 So I guess to answer your question, how
6 would you give the fact that they already have
7 grade group 2 disease, the contention here is that
8 a third of the patients did have grade group 2
9 disease at baseline. Even in PCM301, it is the
10 reason that they're asking for the inclusion of
11 that in the indication.

12 DR. HUSSAIN: My challenge here, though, is
13 that
14 they have technically already progressed at
15 inclusion, based on the definition. So is there
16 data to support modified progression criteria for
17 this population or how do we handle a progression
18 event in someone who already meeting those
19 criteria?

20 DR. REWCASTLE: Dr. Coeytaux?

21 DR. COEYTAUX: I think in Study 306, which
22 will be in patients diagnosed with grade group 2,

1 this is a key question, and we reviewed the design
2 with the FDA. What we're going to use is the most
3 contemporary definition of progression, which is
4 correlated to the amount of pattern 4.

5 So we enrolled a subset of grade group 2
6 with a predefined amount of pattern 4 -- on top of
7 mind, I think 2 millimeters -- and then we have the
8 progression endpoints that look at the total amount
9 of pattern 4 on subsequent biopsy. This has been
10 shown to be correlated with adverse pathologic
11 outcomes, so we think this is a relevant way to
12 mind our progression.

13 DR. HOFFMAN: Dr. Garcia?

14 DR. GARCIA: I have three questions for
15 Dr. Boodée. The first one is I recognize that the
16 study was conducted in a European region, and
17 therefore for 90 percent or so, patients are
18 Caucasian. The first question, is there any data
19 to believe that actually the target could be
20 applicable to a much more heterogeneous patient
21 population like we see in North America, including
22 African American patients?

1 The second question, which I think Dr. Rini
2 alluded to, is the data that you guys have related
3 to subsequent therapy with radical prostatectomy
4 after target therapy, I think you have a
5 retrospective analysis of 47-plus patients, where
6 safety and/or efficacy of RP doesn't appear to be
7 compromised. But I would argue a significant
8 proportion of patients in North America would also
9 choose SRS, brachy, and/or radiation therapy as
10 local definitive therapy if they were to progress.

11 Do you have any data as to the safety and
12 efficacy of that subsequent therapy?

13 Lastly, what was the median number of
14 biopsies done at 12 months and 24 months for those
15 patients who are to receive target therapy compared
16 with active surveillance? You have a range in the
17 protocol looking at 10 to 24 biopsies, but I would
18 argue that if you were to actually do more biopsy
19 sampling for active surveillance, you may be able
20 to pick up more cancer in the active surveillance
21 patient population, or vice versa, compared with
22 the target-treated patients.

1 DR. REWCASTLE: I'll actually answer them in
2 reverse order. If we can look at slide EP-29, this
3 looks at the number of biopsy cores taken per
4 patient. The average number of cores taken at 12
5 months was 12.8 versus 13.4; at 24 months, it is
6 12.6 versus 13.0, and no statistical difference
7 between the two arms. I think that's a pretty
8 standard TRUS biopsy.

9 You asked about subsequent therapies that
10 were not radical prostatectomy. Eighty percent of
11 the subjects who converted within our trial
12 underwent radical therapy. We don't really have
13 any meaningful numbers on radiation therapy, which
14 was 14 percent of those who converted, however, we
15 did not receive any reports that it was not
16 possible, not feasible. This will be captured much
17 better within Study 306, which is really designed
18 to capture this sort of information.

19 The last question, which is important, is
20 looking at the ethnic mix of 301. 301 was
21 conducted in Europe in a predominantly Caucasian
22 population. We do have some experience in

1 Study 202, which was a U.S. study. Forty-seven
2 percent of the gentleman enrolled were African
3 American and 3 percent were Asian. We didn't see a
4 difference in outcomes. For Study 306, we're
5 really going to focus on centers in large
6 metropolitan areas with diverse populations to make
7 sure we capture a much more reflective population
8 here in the United States.

9 DR. HOFFMAN: Dr. Halabi?

10 DR. HALABI: Susan Halabi, Duke University.
11 I have two questions and one comment. The first
12 question is to the sponsor. We know that there are
13 38 patients and 86 patients that were missing
14 biopsies at 24 months, and then we had almost 30
15 patients that were missing at both time points.

16 Knowing that the biopsy will affect rate of
17 progression, at least one of the components of this
18 endpoint, how did you deal with the missing
19 data -- this is more of a statistical
20 question -- and were sensitivity analyses done?

21 Then the other question has to do with
22 biology. In those patients who are at lower risk,

1 what's the likelihood that the data is going to
2 change in terms of the components of the
3 progression over time? That's my other question.
4 Then the final comment I wanted to make for the
5 record, there's no such thing called descriptive
6 p-value, so I would like people to refrain from
7 using that.

8 DR. REWCASTLE: One clarity point is the
9 rate of missing biopsy was at the snapshot at 24
10 months, and that was an ITT analysis at a single
11 time point, whereas progression was a time-to-event
12 analysis. And as discussed, the missing data for
13 that analysis was 6 percent and 9 percent between
14 the two arms.

15 In terms of the sensitivity analysis we did
16 to test the robustness of the findings, FDA made
17 the comment that they assumed that all of the
18 biopsies that were missing were positive. We
19 actually went a step further, and we said let's
20 assume the missing biopsies in the TOOKAD arm are
21 positive and the missing biopsies in the active
22 surveillance arm are negative.

1 If we look at EP-32, we have the result of
2 this analysis. The top here is assuming that any
3 missing biopsy is positive. The bottom is assuming
4 a missing biopsy in VTP is positive and one in
5 active surveillance is negative, and we maintain a
6 highly significant result. If we do the same thing
7 for progression, we also maintain a highly
8 significant result. So we actually took our
9 sensitivity analysis another step further, and we
10 still maintain our significance.

11 DR. HOFFMAN: Dr. Sandler?

12 DR. SANDLER: Thank you. I had a question
13 maybe best answered by Dr. Gill. It was sponsor
14 slide 77, where sponsor is comparing the toxicity
15 from the ProtectT study to the 301 study. I just
16 wanted to mention that as a radiation oncologist,
17 I'm pretty familiar with the Donovan study. Their
18 conclusion was that there was no increase in
19 urinary incontinence versus active monitoring in
20 that study. So that 4 percent number is a baseline
21 for that age population.

22 The erectile dysfunction number from the

1 Protect study I would say is non-comparable to
2 Study 301. In that study, for whatever reason, all
3 the radiation patients got androgen ablation
4 therapy, which we know has an impact on erectile
5 dysfunction. For low-risk patients, as in the 301
6 study, radiation is indicated without the use of
7 ADT, so one would expect erectile dysfunction
8 numbers would be quite a bit better.

9 I guess my question is the assumption is,
10 for sure, that -- your assumption I think is that
11 TOOKAD is safer than radical therapy, but I'm not
12 sure that I'm seeing data that suggests that TOOKAD
13 is actually safer than radiation therapy as
14 definitive treatment. I was just wondering if you
15 could discuss that, and then briefly discuss the
16 issue with TUR.

17 Two patients who had a prior TUR was
18 excluded halfway through the study, I think, after
19 there were some adverse events. I was just
20 wondering what's the reason for the adverse events
21 in using TOOKAD after a prior TUR?

22 DR. REWCASTLE: I think the most important

1 or appropriate person to answer the question
2 regarding radiation therapy would be Dr. Zelefsky,
3 and as he approaches, I'll address the TUR
4 question. There was one subject who had serious
5 incontinence after VTP, and he had a TUR defect.
6 In an abundance of caution, that's why we backed
7 off on TUR within the study.

8 I think Dr. Zelefsky can address the
9 radiation therapy questions.

10 DR. ZELEFSKY: Michael Zelefsky, radiation
11 oncology from Memorial Sloan Kettering Cancer
12 Center. To address Dr. Sandler's point, I think,
13 obviously, it's very difficult to make comparisons
14 like this to the ProtecT trial exactly along the
15 lines that you had mentioned, in particular with
16 the hormonal therapy as a confounding factor for
17 assessing erectile dysfunction, and even longer
18 term follow up in those studies compared to the
19 TOOKAD as well.

20 In addition, it would be difficult as well
21 to make such comparisons because we are dealing
22 with hemiablation, and the ProtecT trial was

1 obviously with whole-gland radiation.

2 I think the point of the comparison is just,
3 certainly in the radiation literature as you know
4 well, the overall risks of erectile dysfunction
5 with whole-gland radiation is generally about 30
6 percent in the patient populations we treat. So
7 it's hard to say that we would be seeing 10
8 percent, for instance, erectile dysfunction rates
9 in general with whole-gland radiation.

10 So I think the comparison was made only to
11 be provocative that we see relatively lower rates
12 than what's been published with whole-gland
13 radiation. I do agree that these kind of
14 comparisons are really impossible because of the
15 different patient populations that were treated.

16 DR. HOFFMAN: Dr. Hawkins?

17 DR. HAWKINS: Thank you. Randy Hawkins,
18 internal medicine. One question is about adverse
19 events and others will follow on Dr. Garcia's
20 question.

21 To the applicant, for the urinary tract
22 infection adverse events, were any of those

1 associated with sepsis or hospitalization?
2 Regarding the follow-up on Dr. Garcia's inquiry,
3 there's some evidence that African Americans have a
4 higher mortality in these low-grade prostate
5 cancers, so there'd be a desire to get that group
6 into this study, and we understand why perhaps that
7 didn't occur in Europe.

8 You've stated that one approach to increase
9 numbers would be in the U.S. metropolitan areas. I
10 would suggest it would take more than just the
11 location. I talk to you a little further about how
12 you've got to market to the African American
13 urologists, et cetera. And the third would be,
14 with 306, the duration, do you anticipate any drop
15 off given the number of biopsies that are required
16 for this length of time?

17 DR. REWCASTLE: The first question regarding
18 UTIs in sepsis and hospitalization, the answer is
19 no. They're all managed and resolved. Regarding
20 the number of biopsies, we've designed the trial to
21 be consistent with active surveillance, so I don't
22 think the burden on the patient is really more than

1 what they'd be getting in active surveillance. So
2 we don't anticipate subjects dropping off but,
3 Dr. Gill, if you can talk about the other questions

4 DR. GILL: Inderbir Gill. I just want to
5 make sure I understand your question correctly.
6 Are you asking whether TOOKAD could potentially be
7 equally applicable to the African American
8 population as the Caucasian?

9 DR. HAWKINS: No, not in 301, but as you
10 anticipate 306, if I can ask about that. It really
11 was a follow-up on Dr. Garcia's question. How will
12 you reach out to increase the number of African
13 Americans enrolled in the study beyond what you
14 stated already?

15 DR. GILL: As Dr. Rewcastle mentioned, in
16 the 202 study, another study that the sponsor is
17 doing, there is about a 47 percent prevalence of
18 African Americans in that study already, although
19 very small numbers. But how would one increase and
20 make sure that there is adequate representation of
21 African Americans? It would be the things that
22 John mentioned, which is go to centers that already

1 have a significant proportion of African Americans,
2 number one. Number two, reach out to African
3 neurologists and include those centers in the
4 multi-institutional trial.

5 I feel confident that given that this trial
6 will be accruing in about 15 centers in the U.S.,
7 we would have about a good 20 percent or so African
8 Americans in this patient mix.

9 DR. REWCASTLE: Correct. And, Dr. Hawkins,
10 I'd like to follow up. If you've got some lessons
11 learned that you can give to us after this, I'd
12 like to hear them because it's important to us that
13 we get a good representative sample.

14 DR. HOFFMAN: Dr. Makarov?

15 DR. MAKAROV: Thank you. Dan Makarov from
16 NYU. My question sort of dovetails with some of
17 the other comments that were made before,
18 Dr. Siddiqui and Dr. Garcia. When you look at the
19 failure rate for the co-primary endpoint B
20 progression in the active surveillance arm, 58
21 percent at 2 years is extraordinarily high. And
22 I'm guessing that the reason for that, as you've

1 discussed the biopsy data, is that there is no
2 confirmatory biopsy that was done, at least not
3 routinely, and probably MRI was not done routinely
4 to screen these patients out.

5 Do you know if it was done off protocol in
6 certain patients? Was it done somewhere along the
7 line or would that definitely have been captured?
8 And if not, regardless, what do you think the data
9 would look like if you performed this trial in the
10 United States in the carefully preselected with
11 confirmatory biopsy or MRI; what would the results
12 of this same trial look like here?

13 DR. REWCASTLE: So to answer the first part
14 of the question with the progression rate, if we
15 look at slide E-12, because we looked at this as
16 well, is what we found consistent with the
17 literature? Your progression rate is going to be
18 driven largely by the intensity of follow-up in the
19 biopsy, and their progression rates are different.
20 So it's hard to compare studies, but what is easy
21 to compare is the conversion of radical therapy
22 rates. We did a complete review of the literature

1 and found the studies that had two planned biopsies
2 in the first years, and looked at their rates of
3 conversion of radical therapy, and our rate within
4 Study 301 is consistent with this.

5 DR. MAKAROV: When you look at the Hopkins
6 cohort -- I'm not so familiar with some of the
7 others -- I think at 15 years, they have like
8 30 percent progression, pathologic end-stage
9 progression, I think; definitely not 58 percent at
10 2 years.

11 DR. REWCASTLE: Again, what's easy to
12 compare is the conversion rate.

13 DR. MAKAROV: So what do you think it would
14 look like if you did it in the United States?

15 DR. REWCASTLE: Well, that's what we're
16 going to find out. Dr. Coeytaux?

17 DR. COEYTAUX: Emmanuel Coeytaux. So I
18 think this is exactly what we want to do with the
19 Study 306 patient population diagnosed with highly
20 sensitive biopsy technique, MRI-targeted biopsy.
21 We expect the rate of progression is going to be
22 much lower than in 301. We looked extensively for

1 what it would be and never found any good
2 literature on that, so we've done an adaptive
3 design in this study to accommodate actually for
4 all that uncertainty. We think it will be lower,
5 and we think that the difference with TOOKAD is
6 still going to be there. The effect will be lower.

7 DR. MAKAROV: But 306 is an intermediate
8 risk, not low risk, right? So it should in theory
9 be higher, active surveillance and an intermediate
10 risk cohort.

11 DR. COEYTAUX: It's higher risk diagnosed
12 with a more sensitive biopsy technique. So we're
13 going to remove some of the reclassification. And
14 you're right, there is reclassification, so we show
15 that we've effectively treated disease that was
16 just not properly detected in the beginning, but
17 that was the situation when we conducted the trial.
18 I totally agree with that.

19 DR. MAKAROV: I definitely believe that
20 focal therapy has a role in treatment of prostate
21 cancer. I just worry about looking at a low-risk
22 cohort and declaring victory so soon, you know?

1 DR. COEYTAUX: I think we agree with that,
2 that's why we emphasize its lowest diagnosed with
3 TRUS biopsy. We know the world is shifting to
4 better diagnosis techniques, and that's what we
5 tried to take into account in the indication we
6 proposed. I think a lot of urologists would argue
7 that it's the lowest from yesterday diagnosed with
8 TRUS biopsy and all of the intermediate risk of
9 today diagnosed with MRI-targeted biopsy, but
10 that's a reach.

11 DR. HOFFMAN: Mr. Kungel?

12 MR. KUNGEL: Terry Kungel. The question is
13 to Dr. Rewcastle, and it's a follow-up from
14 Dr. Makarov.

15 If we look at 100 percent of the people that
16 were on active surveillance that went to definitive
17 treatment, can we say of that 100 percent what
18 percent actually were progressing and what percent
19 were not progressing, and making a decision
20 probably rated on the basis of anxiety?

21 DR. REWCASTLE: Correct. If we can get
22 those slides that we presented in the core that

1 shows the proportional rate of progressing?

2 So what we did, while this slide is coming
3 up, is we looked at the proportion of those who had
4 progression and subsequently underwent radical
5 therapy. FDA's analysis said it was only half of
6 those met, but that's if you stopped the analysis
7 at 24 months. When we continued to follow, we
8 captured some of those conversions which were
9 occurring; because it takes a while, after a
10 positive biopsy or progression, to then make a
11 decision to be treated and for that treatment to
12 happen.

13 We found 67 percent of those who progressed
14 went on to radical therapy in both arms. So
15 proportionately it was similar. We also looked at,
16 for those who had radical therapy, what percent had
17 actually progressed, and it's about 80 percent in
18 both arms.

19 I'd like to show you quickly on --

20 MR. KUNDEL: So 20 percent made an
21 anxiety-based decision, not a medical decision.

22 DR. REWCASTLE: Correct. And what I want to

1 show is DB-19, which is a study of 10,000 men who
2 underwent prostatectomy, and I'll try to quickly
3 explain.

4 This is the probability of being in a
5 different situation. You have a progression in a
6 treatment or you have a progression without a
7 treatment, which is the purple.

8 If you look at the purple to the red,
9 throughout the study, it's approximately
10 80 percent. That's the one we just talked about,
11 which is those who have a treatment without a
12 progression. Our study of 200 men is consistent
13 with this study of 10,000 men, so we think we're
14 very consistent with what we found here.

15 MR. KUNDEL: But when we were saying that
16 TOOKAD beat AS in terms of moving to definitive
17 treatment, some of that was a function of guys
18 being anxious.

19 DR. REWCASTLE: Correct.

20 Dr. Scardino, could you talk about the
21 decision process a bit of men? Because it's not
22 always a definitive biopsy-based decision.

1 DR. SCARDINO: The decision to convert to
2 radical therapy for a patient on active
3 surveillance can be motivated by a variety of
4 things, including a rising PSA level that today we
5 know is not significant, an insignificant but a
6 measurable change in tumor volume going from 3 to
7 4 cores.

8 In this study there was that 20 percent of
9 patients who had some reason, and in addition to
10 that, they just worried about it; and some family
11 member or friend had surgery or radiation and was
12 very happy, so they changed their mind. I think
13 20 percent of patients are going to decide when
14 they're watching their cancer; they've decided they
15 want to get treatment even though they may not have
16 a very good, meaningful change, a meaningful change
17 in the nature of their cancer.

18 MR. KUNDEL: One quick question, though, is
19 50 percent of the people that were known to
20 progress chose to do nothing.

21 DR. SCARDINO: Yes. Well, these men were
22 enrolled on a study where active surveillance was a

1 50/50 chance that they would get enrolled, so they
2 were accepting of active surveillance. They'd been
3 on it for a while. Now something changes that
4 meets your objective criteria for progression, they
5 feel perfectly fine, and they don't want to go
6 through radical therapy.

7 DR. REWCASTLE: Correct. And just to
8 clarify again, at 24 months, 50 percent of the men
9 haven't taken action, but if you look farther out,
10 67 percent of those who do have progression do
11 convert. That's just the cutoff of the follow-up.

12 DR. HOFFMAN: Dr. Walsh, do you have a
13 follow-up?

14 DR. WALSH: Well, I wanted to follow up on
15 what Dr. Makarov said because I was also struck by
16 that progression rate. In the sponsor's
17 presentation that they sent to us, on page 14 they
18 say that in the PROMIS trial, on men who underwent
19 MRI-targeted biopsy, 70 percent of the men who were
20 grade group 1 were converted to grade group 2 or
21 greater.

22 I think there's a real difference in the

1 European population and what you're going to find
2 in the United States. I asked Dr. Epstein what our
3 experience is in patients with very low-risk cancer
4 who undergo radical prostatectomy. Only 15 percent
5 have grade group 2 or greater, and in men with low
6 risk, it's 30 percent.

7 So I think it's going to be an entirely
8 different progression rate in the United States
9 because the disease is different, and because
10 there's so much screening, people are picked up so
11 much earlier.

12 While I'm talking, I am a bit concerned
13 about the definition that is used on targeted
14 biopsy using the word "50 percent of cores
15 positive." I couldn't find that that had ever been
16 confirmed, and I think if one's going to use that
17 as a criterion in 306, that you would want to have
18 confirmation on what that actually told us. There
19 is a recent article published in Urology looking at
20 targeted biopsies, and it was length of core that
21 predicted severity, not number of cores.

22 DR. REWCASTLE: Within Study 306, we've

1 actually built that in. We're using the
2 millimeters of pattern 4 as inclusion as well as
3 for the progression. We're trying to be
4 contemporary as we go, and there's only so much you
5 can do for that.

6 DR. WALSH: Well, I think you ought to do
7 what Epstein did in 1993 in JAMA. He took patients
8 who had undergone radical prostatectomies, and then
9 looked at percent of cancer. They defined what
10 would be insignificant cancer, and then made the
11 criteria.

12 There are a number of patients now in the
13 United States that have undergone targeted biopsies
14 that had negative systematic biopsies, and it would
15 be easy to collect; you don't have to wait for PSA
16 failure. It's easy to collect the pathologic
17 findings in those patients to see whether or not
18 there is a criterion like he developed in 1993 that
19 would be based upon actual data and not just
20 speculation.

21 DR. REWCASTLE: Okay.

22 Dr. Scardino, can you speak to this?

1 DR. SCARDINO: I think that's a very
2 reasonable suggestion. The better data we have,
3 the more accurate we can do the biopsies. The
4 better we can stage the patients, the more
5 appropriately we can treat them. The greater than
6 50 percent positive biopsy came from NCCN
7 guidelines. Although it's in the guidelines, it's
8 not as convincing in the literature.

9 DR. HOFFMAN: Dr. Song?

10 DR. SONG: My question was previously
11 addressed.

12 DR. HOFFMAN: Dr. Hinrichs?

13 DR. HINRICHS: I have two questions. The
14 first relates to the toxicity. The applicant
15 presented a slide titled, Most Assays were
16 Transient and Resolved, and it really has just two
17 data points. One is whether the toxicity occurred
18 and the other is if it resolved at 24 months.
19 Transient might mean different things to different
20 people.

21 What was the duration of these toxicities
22 that occurred?

1 DR. REWCASTLE: We presented the resolution
2 at 24 months specifically because at that last
3 assessment in the primary portion of the trial,
4 there's a specific visit where every AE was
5 reviewed and has it resolved or not.

6 Most of the adverse events that occurred
7 with the trial were perioperative. Interestingly
8 on SAEs, the most common one, which was 8 percent
9 urinary retention, was actually an artifact of
10 management.

11 In Europe, if you present urinary retention,
12 you tend to have a catheter placed and you're
13 admitted, whereas in the United States, you'd be
14 put in outpatient treatment, so you wouldn't have
15 that trigger for having a serious adverse event due
16 to hospitalization.

17 DR. HINRICHS: So can you trust the data on
18 the time to resolution of these events?

19 DR. REWCASTLE: For the urinary retention it
20 was 10 percent -- sorry; 10 days was the median
21 time to resolution.

22 DR. HINRICHS: One question is to

1 characterize the toxicity of the intervention.
2 Just whether the events occurred and whether they
3 were present at 24 months is very limited
4 characterization. Do you have some data to
5 characterize it further?

6 DR. REWCASTLE: We can look at which
7 additional data we have and maybe get some
8 additional resolution after the break. But I would
9 like to say in Study 306, we've worked hard with
10 the FDA to really capture a lot more in terms of
11 not only the adverse events but also the PROs who
12 are moving to the CTCAE PRO assessments, and we
13 should have a better capture of these data.

14 DR. HINRICHS: I have one other question
15 also.

16 DR. REWCASTLE: Sure.

17 DR. HINRICHS: The study was designed to
18 compare active surveillance to a treatment, to an
19 intervention, but it seems that active surveillance
20 is a changing practice, and it's changed a lot
21 since the study began, now MRI-targeted biopsies
22 being an important part of that. How do we

1 interpret this data given that the active
2 surveillance that was studied is not the active
3 surveillance that's practiced now?

4 DR. REWCASTLE: I think a clinical
5 perspective from Dr. Scardino or Gill would be
6 good, hearing the differences in active
7 surveillance now versus when the study was
8 conducted, and if that impacts the interpretation.

9 DR. SCARDINO: Thank you very much. Peter
10 Scardino. Well, active surveillance for low-risk
11 cancers that were planned for the 301 study was
12 essentially the standard of care. Although many
13 patients were getting treated, it was becoming the
14 standard of care, and it was advocated by academics
15 and leaders in Europe, and it was the right thing
16 to compare this kind of partial gland ablation to.

17 In the United States, active surveillance is
18 a moving target. There are programs that have only
19 focused on very low-risk cancer. Most programs
20 focus on low risk. There are some that have
21 included favorable intermediate risk and mixtures
22 of all those. So there isn't a uniform agreement

1 about what is the ideal population for an active
2 surveillance and what is the ideal workup, although
3 we agree today, MR-guided biopsies supplementing
4 systematic biopsies is certainly a minimum standard
5 of care with a baseline MRI.

6 DR. HOFFMAN: Dr. Klepin?

7 DR. KLEPIN: Thanks. Heidi Klepin, Wake
8 Forest. I have a comment and two related
9 questions. The comment is just reorienting us as
10 we just did.

11 One of our key questions here that we're
12 grappling with is whether or not the TOOKAD
13 procedure has fewer adverse events than potentially
14 a prostatectomy or radiation, as was suggested on
15 slide 77 and discussed earlier; rather, in this
16 low-risk population, many of whom are older who
17 have some multiple chronic conditions, who have
18 this guideline-based option of active surveillance,
19 what is the trade off for them in taking on some
20 earlier short-term risk and what's the benefit in
21 that? Because the risk of active surveillance, we
22 saw the data, and the risk is lower in the short

1 term.

2 So the questions around that, one relates to
3 the idea that active surveillance might actually
4 reduce the anxiety -- I mean, that the early
5 treatment would reduce anxiety. This was brought
6 up earlier. I don't see that we have any data in
7 this study around anxiety specifically. Is that
8 correct?

9 DR. REWCASTLE: That's correct.

10 DR. KLEPIN: Then related to that, there was
11 a comment around anxiety in active surveillance
12 associated with repeated biopsies, which makes
13 sense. We see that with our patients. If this
14 treatment were approved, would the recommendations
15 following treatment with TOOKAD continue to require
16 and recommend biopsies on a regular basis? Would
17 patients be in a similar follow-up paradigm as far
18 as repeated biopsies as they would be on active
19 surveillance?

20 DR. REWCASTLE: When a man is diagnosed with
21 prostate cancer, they basically are entering into a
22 surveillance phase for the rest of their life.

1 Really, with active surveillance, the follow-up and
2 the schedule of biopsies will be similar to what
3 we'd be doing post-TOOKAD.

4 Dr. Coeytaux, do you have additional?

5 DR. COEYTAUX: One thing on the anxiety,
6 we're going to actually measure anxiety in the
7 confirmatory Study 306, so we'll have that data
8 from that new study.

9 I think one point I wanted to clarify, we
10 have to remember that active surveillance doesn't
11 mean no treatment forever. We have 50 percent of
12 these patients that do convert to radical therapy
13 at some point. In our mind, the point is can we
14 improve that? That's really what TOOKAD is aiming
15 at, and can we reduce that risk of future
16 conversion to radical therapy; not to say we want
17 just to treat patients earlier.

18 DR. HOFFMAN: Dr. Sandler, did you have
19 another question?

20 DR. SANDLER: I did. Thank you for letting
21 me ask another question, and it's Howard Sandler.

22 I was just wondering if the sponsor could

1 discuss -- one of the components of the composite
2 progression endpoint was a PSA greater than 10 on
3 three separate occasions. I just think that that's
4 a biased endpoint in a study where you're doing an
5 ablative therapy because you allowed PSAs up to 10,
6 so people could have a PSA of 9.9.

7 So it wouldn't be surprising that over two
8 years, some people's, on active surveillance, PSA
9 would go up over 10, whereas the ablative treatment
10 should lower the PSA by a certain amount, and I
11 didn't hear what that was. So you're going to have
12 this difference in post-treatment, so to speak,
13 PSAs that would lead to a bias against the active
14 surveillance. I was wondering if you could comment
15 on that.

16 Then second, related to that, I didn't see
17 any data on medications that patients may have
18 used. Were you capturing 5-alpha reductase use in
19 these patients, and was there any difference in
20 5-alpha reductase use, which could affect PSA
21 between the two arms of the study?

22 DR. REWCASTLE: Dr. Coeytaux?

1 DR. COEYTAUX: Your question on the PSA is a
2 very good one. Actually, we went through exactly
3 the same question during our EMA approval. Yes,
4 PSA is entered [indiscernible] by the therapy.
5 After the fact, we realized that this was probably
6 not the most appropriate criterion. Nevertheless,
7 we were very reassured there are very few,
8 actually, patients that had a PSA progression.

9 We can maybe show the slide with the
10 different criteria of disease progression that was
11 shown in the core presentation. Most of these
12 patients had a progression that was also due to
13 other criteria. So although potentially biased, we
14 were happy to see that it didn't impact the study
15 results.

16 DR. SANDLER: And the 5-alpha reductase use?

17 DR. HOFFMAN: Dr. Siddiqui, did you have
18 another question?

19 DR. COEYTAUX: So on the --

20 DR. HOFFMAN: Oh.

21 DR. COEYTAUX: -- alpha-reductase use, we
22 captured, obviously, all medication used during the

1 study. I must admit that we've not conducted a
2 specific analysis on the alpha reductase use, so I
3 cannot answer directly your specific question here.

4 DR. SIDDIQUI: Thank you. I had two
5 follow-up questions. These are specific slides
6 actually. Slide 41, it demonstrates the in-field
7 biopsy recurrence rate in TOOKAD versus active
8 surveillance. Specifically, was there any further
9 analysis done to understand why a quarter of TOOKAD
10 patients had in-field biopsy recurrence?

11 Specifically, I'm particularly interested in
12 understanding that with ablative therapies, there
13 are a couple things that can happen. You can have
14 a true failure of the ablation, where within the
15 zone that was considered to be appropriately
16 treated, the cancer somehow survived and grew back,
17 as opposed to technical features, such as an
18 incomplete ablation or learning curve within the
19 institution. So one could imagine a learning curve
20 improving over time, whereas a biological reason
21 not improving. So that's my first question.

22 The second is going to be on slide 57 with

1 the patient-reported outcomes. We learned
2 that -- one is just clarification. I know that for
3 adverse events, we learned that 40 out of 64 active
4 surveillance patients and 5 out of 12 TOOKAD
5 patients who went on to definitive therapy had
6 missing data on adverse events.

7 Was that a similar number for the
8 patient-reported outcomes or was there no
9 information on patient-reported outcomes for
10 patients who underwent treatment? Because I think
11 with such a disparity in the patients that are
12 getting treatment and the fact that
13 patient-reported outcomes are probably much worse
14 in treatment patients rather than non-treatment
15 patients, that could really shift the way that
16 these curves look, making currently active
17 surveillance look a lot better than it actually is
18 in reality.

19 DR. REWCASTLE: Correct. Again, I'll answer
20 the second question first because this slide is
21 still up. The graphs we presented for IIEF as well
22 as IPSS, we censored at the time of radical

1 therapy. Our goal here is to really clearly show
2 the adverse event profile of active surveillance in
3 TOOKAD side by side without the influence of the
4 conversion of radical therapies. If we had kept
5 those in, active surveillance looks worse because
6 you have more patients converting over.

7 So we're kind of showing you -- or we're
8 biasing -- we're keeping the pure results, where if
9 you looked at more, it would be a different value;
10 and that's because we don't have great follow-up
11 after patients went to radical therapy, which was a
12 shortcoming of this study. So these graphs, just
13 to be clear, are censored at the time of radical
14 therapy, so it's the pure view.

15 Dr. Coeytaux, you can speak to the other
16 question, which is in regards to slide 41.

17 DR. COEYTAUX: On the failure rate of the
18 TOOKAD therapy, when we have recurrence, what we've
19 seen is mostly recurrence at the margin. Remember,
20 this study was conducted in 47 centers. That's a
21 lot of learning curves, so what we've seen is
22 mostly learning the procedure and the ability to

1 treat up to the cap shown [indiscernible].

2 DR. HOFFMAN: Okay. Let's now take a
3 12-minute break. Panel members, please remember
4 that there should be no discussion of the meeting
5 topic during this break amongst yourselves or with
6 any member of the audience, and we'll resume at 11
7 o'clock. Thank you.

8 (Whereupon, at 10:48 a.m., a recess was
9 taken.)

10 **Open Public Hearing**

11 DR. HOFFMAN: Alright. Let's reconvene,
12 please.

13 Both the Food and Drug Administration and
14 the public believe in a transparent process for
15 information gathering and decision making. To
16 ensure such transparency at the open public hearing
17 session of the advisory committee meeting, FDA
18 believes that it is important to understand the
19 context of an individual's presentation.

20 For this reason, FDA encourages you, the
21 open public hearing speaker, at the beginning of
22 your written or oral statement to advise the

1 committee of any financial relationships that you
2 may have with the sponsor, its product, and if
3 known, it's direct competitors. For example, this
4 financial information may include the sponsor's
5 payment of your travel, lodging, or other expenses
6 in connection with your attendance at this meeting.

7 Likewise, FDA encourages you at the
8 beginning of your statement to advise the committee
9 if you do not have any such financial
10 relationships. If you choose not to address this
11 issue of financial relationships at the beginning
12 of your statement, it will not preclude you from
13 speaking.

14 The FDA and this committee place great
15 importance in the open public hearing process. The
16 insights and comments provided can help the agency
17 and this committee in their consideration of the
18 issues before them. That said, in many instances
19 and for many topics, there will be a variety of
20 opinions.

21 One of our goals today is for this open
22 public hearing to be conducted in a fair and open

1 way, where every participant is listened to
2 carefully and treated with dignity, courtesy, and
3 respect. Therefore, please speak only when
4 recognized by the chairperson. I thank you for
5 your cooperation.

6 Will speaker number 1 please step up to the
7 podium and introduce yourself? State your name and
8 any organization you're representing for the
9 record.

10 DR. GORIN: Good morning, and thank you to
11 the FDA and the committee for the opportunity to
12 speak at this morning's open hearing. My name is
13 Michael Gorin, and I'm a urologist at Johns Hopkins
14 University School of Medicine, where within the
15 Department of Urology I direct the program in
16 localized prostate cancer.

17 In this role, I oversee our department's
18 active surveillance programs for men with low-risk
19 prostate cancer. This program is among the largest
20 and oldest in the world, having been started by my
21 mentor and predecessor, Dr. Ballentine Carter, more
22 than 25 years ago at Hopkins.

1 I do have a relationship with the sponsor in
2 that I'm an investigator for the upcoming 306
3 trial. I come today, however, as an uncompensated
4 independent expert wishing to express my views in
5 favor of the approval of TOOKAD vascular-targeted,
6 photodynamic therapy for the partial gland ablation
7 of prostate cancer.

8 While there is little doubt that active
9 surveillance is the preferred management strategy
10 for men with low-grade prostate cancer, that is
11 grade group 1 or Gleason 6 disease, considerable
12 challenges have historically existed in correctly
13 identifying men with true low-risk disease. This
14 is evident by the fact that the risk of disease
15 reclassification on surveillance has historically
16 been in the range of 30 to 50 percent over as
17 little as 5 years.

18 It is important to realize that the vast
19 majority of patients on surveillance who are
20 reclassified do not do so because of disease
21 progression -- that is true biologic transition
22 from grade 1 to grade 2 disease -- but rather do so

1 due to errors in sampling the gland with the use of
2 transrectal ultrasound-guided biopsy. Thus, there
3 has historically been the need for serial biopsies
4 to correctly classify men.

5 These multiple biopsies place men at risk of
6 complications, including sepsis, bleeding, and
7 sexual dysfunction. Thankfully, in the last
8 several years, there has been considerable progress
9 in our ability to correctly classify men at the
10 time of initial diagnosis with the adaptation of
11 multiparametric MRI.

12 Evidence for this is evident from our
13 institution, which was recently published,
14 demonstrating a 75 percent reduction in disease
15 reclassification at 24 months and a 50 percent
16 reduction at 4 years among men with a negative MRI
17 at the time of surveillance enrollment.

18 As the point has been made several times
19 earlier today, a major consideration when
20 evaluating data from the 301 study is the fact that
21 the study cohort was defined with the use of
22 ultrasound-only biopsies and not with the modern

1 tools of multiparametric MRI. Thus, to call men in
2 this study low risk is not an accurate statement by
3 current standards.

4 Indeed, the authors observed a 60 percent
5 rate of disease reclassification, which has
6 decreased considerably with the use of TOOKAD. In
7 my view, this was achieved with little in the way
8 of perioperative risks and an acceptable side
9 effect profile in terms of urinary symptoms and
10 erectile dysfunctions, especially when one
11 considers the historical rate of these
12 complications in large published series such as the
13 ProtecT trial.

14 So in summary, my read of the available data
15 is that the 301 study showed an excellent rate of
16 in-field cancer control in a population highly
17 enriched for intermediate risk disease, a group of
18 patients in need of treatment. This was achieved
19 with an acceptable risk profile and this treatment
20 greatly reduced disease reclassification. In
21 addition, it spared treated men the potential harms
22 of serial biopsies had they remained on

1 surveillance.

2 Again, while use of this treatment is
3 inappropriate for most men with true low-risk
4 disease, it would be an error to call the study
5 cohort a modern active surveillance population and
6 to in turn deny men, who meet the inclusion
7 criteria of this study, the potential benefits of
8 this minimally invasive and low morbidity
9 treatment. We must do better for our patients, and
10 TOOKAD appears to be an excellent option for men
11 with high-volume grade group 1 or grade group 2
12 disease. Thank you.

13 DR. HOFFMAN: Thank you.

14 Will speaker number 2 step up to the podium
15 and introduce yourself? Please state your name and
16 any organization you're representing for the
17 record.

18 MR. FORTIN: Good morning. I'm John Fortin,
19 and I'm a patient who has had focal therapy for
20 prostate cancer. I represent no organization, only
21 patients. Only my travel expense, not time for
22 this meeting, is being reimbursed by STEBA. Thank

1 you for allowing me to share my experience.

2 Please note that my treatment, while focal,
3 is not the therapy you're evaluating today, and
4 please do not interpret any comments by me as pro
5 or con in regard to TOOKAD. By training, I'm a
6 healthcare actuary, so I have an in-depth
7 understanding of mortality and morbidity data.

8 I've evaluated, in depth, prostate cancer
9 studies, oncologic, and functional outcomes. Now
10 retired, I've been a fellow in the Society of
11 Actuaries for 45 years. I attend numerous clinical
12 conferences as a reporter for UroToday. Also, the
13 AUA has asked me to fill a volunteer position,
14 patient advocate liaison representing all
15 urological patients in the United States.

16 I was diagnosed with prostate cancer in
17 early 2014 with what NCCN now classifies as
18 intermediate favorable disease. Numerous doctors
19 all told me that I was not eligible for active
20 surveillance and needed treatment. I studied
21 level 1 evidence and concluded that definitive,
22 quote/unquote, "whole-gland treatment" would have

1 little, if any, impact on my longevity; yet,
2 urinary incontinence and other side effects are
3 problematic and underreported due to multifactorial
4 biases.

5 Informed patients worry about months, or
6 years, of incontinence at work, while asleep, while
7 laughing, playing golf, or during intercourse. For
8 ED, when drugs don't work, syringes and implants
9 are theoretically effective, but in practice,
10 romance and QoL often deteriorate. As you know,
11 whole-gland treatment risks include harm from
12 anesthesia, hospital acquired infections,
13 retention, penile shortening, Peyronie's disease,
14 hernia, rectal damage, secondary cancers and
15 retrograde ejaculations, and more.

16 Often patients are uninsured or
17 underinsured. In Georgia and some other States, if
18 you can't get back to work fast, you might be
19 looking for a new job, so definitive treatment
20 entails significant medical and financial
21 toxicities. My strategy was to find a safe,
22 precise focal approach that more than likely would

1 destroy MRI-visible lesions, minimize side effects,
2 and preserve all future treatment options. I tried
3 to find a good focal trial, but no luck.

4 Fortunately, docs at two academic medical centers
5 offered focal laser therapy, and after considerable
6 study, it appeared to meet all of my criteria.

7 Yes, I am now 72. Six years later, I've no
8 evidence of disease and no permanent side effects.
9 Fast forward to today, I still take my PSA tests
10 and things are still not proven, but my story is
11 anecdotal and I'm not a typical patient. But let's
12 try to take a broader look at patients.

13 Helping patients have become my calling and
14 I've learned a lot. Each patient's different, but
15 allow me to generalize. Docs' job, number one, is
16 saving lives, and we're grateful. Patients want to
17 balance length of life and quality of life, but
18 they have zero objective, comparable oncologic and
19 functional data by treatment; none.

20 So what is the big picture in the real
21 world? My crystal ball's a little cloudy, but it
22 appears to me, fairly soon, procedures that have no

1 specific label for focal are likely to be widely
2 used for focal treatment. Patients will assume
3 that these have been fully blessed by regulators.
4 On the supply side, many leading clinicians are
5 embracing focal and will be using many flavors of
6 focal in their practice. I empathize with you as
7 regulators. We're trying to wrap your heads around
8 very complex issues as discussed today.

9 In closing, I hope and pray that, soon,
10 regulators will have sufficient, while not perfect,
11 evidence to endorse new and improved focal therapy
12 that will reduce overtreatment. Thank you.

13 DR. HOFFMAN: Thank you.

14 Will speaker number 3 step up to the podium
15 and introduce yourself? Please state your name and
16 any organization you're representing for the
17 record.

18 MR. PREST: Hello. My name is Peter Prest.
19 STEBA Biotech supported my travel to this meeting
20 as I offered to share my experience; however, I am
21 not compensated for my time

22 Today I'm here to tell my story of diagnosis

1 and treatment for my prostate cancer and why
2 patients like me need options for their cancer
3 treatment. After seeing increases in my PSA over
4 several years during my routine annual physical, my
5 primary care doctor suggested that I get a consult
6 with a urologist at Northwestern Hospital in
7 Chicago.

8 After additional blood work and an MRI,
9 Dr. Kent Perry conducted a biopsy and informed me
10 of my cancer diagnosis. He insisted a radical
11 prostatectomy was the best option due to my age,
12 overall health, and the availability of his
13 schedule the following week.

14 I was concerned with Dr. Perry's insistence
15 on a radical prostatectomy and about a complete
16 recovery due to the side effects of such an
17 invasive surgical procedure. Thus, my wife and I
18 looked for other treatment options, including
19 consideration of watchful waiting.

20 We have a young daughter, and I did not want
21 to compromise my job security, my financial
22 productivity, and lifestyle while she is growing

1 up. We found Dr. Coleman at Memorial Sloan
2 Kettering through a search of prostate cancer
3 treatment options; and after our consult with
4 Dr. Coleman and several other physicians at
5 Memorial Sloan Kettering, my wife and I decided
6 that the TOOKAD treatment was the best option for
7 me at this point in my life.

8 Other than the inconvenience of a dark-room
9 requirement and a catheter overnight, there were
10 not any significant side effects as a result of the
11 procedure. The pain was minimal and did not
12 require prescription analgesic. I realized the
13 gold standard for my diagnosis is a radical
14 prostatectomy, but not having to deal with a bag
15 for 5 weeks and other common side effects of
16 incontinence and impotence is a great relief to me.
17 I was back on my feet following the TOOKAD
18 procedure, doing what I needed to do.

19 So now I am cancer free, and I feel the same
20 as I did before I had the initial diagnosis. I'm
21 able to run, play golf, and enjoy my wife and
22 daughter without any difficulties. I'm going to be

1 60 in 3 weeks and I have a 3-year-old daughter; and
2 now I have the expectation of having a productive
3 life for many years. While my wife and I do not
4 plan to have more children, avoiding the radical
5 prostatectomy gives me the opportunity to live a
6 productive life with my family for many years.

7 This procedure is convenient for men that
8 need to work, need to be productive with their
9 life, and should be an available option to patients
10 who want to live a life like I have today. Thank
11 you for your time, and please let me know if you
12 have any questions.

13 DR. HOFFMAN: Thank you.

14 Will speaker number 4 step up to the podium
15 and introduce yourself? Please state your name and
16 any organization you're representing for the
17 record.

18 MR. MORSE: Thank you very much for allowing
19 us the opportunity to speak today. My name is
20 David Morse, and I have no financial relationship
21 with TOOKAD. My whole presence here today came as
22 a result of discussing the fact that it was an

1 occurrence. I gathered information through several
2 peers that led me in this direction, and I said
3 what a great opportunity to talk about something
4 very near and dear to my heart.

5 As I said, my name is David Morse, and for
6 the past 5 years I have run a support group at
7 Sarasota Memorial Hospital in Sarasota, Florida.
8 This support group is one of the very first support
9 groups that was ever founded way back 25 or
10 30 years ago, and it has continued in existence to
11 this day.

12 The result is that we've seen many, many
13 hundreds of men each year because of the rotating
14 nature of our presentations and the fact that we
15 have new doctors, new oncologists, we have
16 urologists come in and speak, and we have a pretty
17 strong following at these meetings.

18 Men are very difficult to reach, as we all
19 know, and one of the offshoots of this Men to Men
20 program at Sarasota has been the formation of a
21 nonprofit called the Sarasota Prostate Initiative,
22 which we hope to use to expand the horizons of what

1 Men to Men has done over the years and try to
2 increase the awareness of options for men in both
3 treatment and diagnostic methods.

4 Again, I really appreciate the opportunity
5 to speak with you. When I heard about TOOKAD, and
6 it was only a few months ago, I immediately saw
7 this as a real option for a vitally significant
8 group of men who receive a cancer diagnosis and are
9 afraid of the choices they're being given.

10 In the first place, they're afraid and
11 fearful right from the start of being told they
12 have cancer, and then when they hear about all the
13 options that are out there, many of which provide
14 less than adequate lifestyle consequences, they get
15 even further afraid.

16 They're afraid on one side to watch and
17 wait, which is very, very hard for men to make a
18 decision about. Most men react, "Let's get this
19 out right now," but watch and wait has proven to be
20 a very, very vital treatment plan for people.

21 In my case, for example, I was diagnosed
22 with a Gleason 6 score and 2 cores in 2011, and my

1 doctor urged removal. He was a urologist, and he
2 urged that I remove this. He then allowed that
3 maybe I should see a radiation oncologist, so he
4 was willing to bend a little bit. But the final
5 analysis I said, "No, absolutely not." I had done
6 a considerable amount of research prior to this
7 decision, and we ended up parting ways later on.
8 It was a friendly departure, but nonetheless, I was
9 determined that I would follow a course of active
10 surveillance.

11 There were no other real options for me I
12 didn't think. The radical prostatectomy and
13 radiation of 38 days, or whatever, were not options
14 I was looking forward to. Had TOOKAD been on the
15 scene at that point, it might have made a
16 significant difference for me. I don't know a lot
17 about TOOKAD; I'm learning as I go. As I say, it
18 was only 3 or 4 months ago that I heard about it,
19 but when I did hear about it, I said, "Wow. This
20 is something that can really affect a tremendous
21 number of men."

22 My PSA has remained at zero since 2015, so I

1 feel great at this point. I guess I'm running out
2 of time, but thank you so much for letting me
3 present today. Thank you.

4 DR. HOFFMAN: Thank you.

5 Will speaker number 5 step up to the podium
6 and introduce yourself? Please state your name and
7 any organization you're representing.

8 DR. ZUCKERMAN: Sure. I'm Dr. Diana
9 Zuckerman. I'm president of the National Center
10 for Health Research. Our center does not accept
11 money from pharmaceutical or device companies, so I
12 have no conflicts of interest. Our center analyzes
13 scientific and medical data to provide objective
14 health information to patients, providers, and
15 policy makers.

16 My own perspective is as a researcher
17 previously at Harvard and Yale, and also, because
18 we've done a lot of work with prostate cancer
19 patients at our center, providing information and
20 patient booklets for them, and that's vetted with
21 experts from the National Cancer Institute.

22 The American Urological Association, as you

1 know, recommends active surveillance for most
2 patients with low-risk prostate cancer, but you
3 also know that some patients don't feel comfortable
4 with active surveillance. Treatment can be a
5 reasonable choice if there's clear evidence that
6 the benefits outweigh the risks, but that's not
7 true with the data that we've heard today. I think
8 the FDA has done an excellent job of expressing
9 their concerns, and I just want to briefly go over
10 the five main issues.

11 Number 1, there was only one clinical trial,
12 and given that there are other effective
13 treatments, we think that one flawed clinical trial
14 is not sufficient since replication is the key to
15 scientific evidence.

16 Number 2, there were only 5 nonwhite
17 patients; 5, not 5 percent, 5, and that's just not
18 acceptable. You already know that prostate cancer
19 is a more serious issue for African American men,
20 and they should have been included in this study.
21 It's not sufficient to promise to try to do better
22 in postmarket studies. They should be done in

1 premarket studies.

2 Number 3, the accuracy of the biopsies,
3 you've already heard about that.

4 Number 4, too much missing data, you've
5 heard about that. You can't draw conclusions about
6 evidence when you have so much missing data.

7 Number 5, the trial was open label, and that
8 introduces a lot of bias, as you know, and that
9 should not have been done. This is the kind of
10 procedure that could have had a blinded trial, but
11 it didn't.

12 The FDA held a workshop in September of 2018
13 to discuss issues related to clinical trials on
14 prostate cancer, localized prostate cancer, and
15 they concluded that novel treatments could be good
16 if the new therapy was less likely to result in
17 subsequent treatment such as surgery; if there was
18 an overall reduction in adverse events; and if
19 there was no reduction in long-term cancer control.
20 But, unfortunately, those criteria weren't met here
21 in this trial, and the 95 percent with adverse
22 events I think speaks for itself. We're also very

1 concerned with the long-term complications of the
2 treatment, and how that might be affected by
3 subsequent treatment is unknown.

4 In summary, I would say that it's really
5 important that these kinds of issues be resolved in
6 premarket studies. It isn't sufficient to wait for
7 postmarket studies. You've heard today how people
8 get very excited about a new treatment that seems
9 less invasive and how that can be very misleading.

10 In this case, we don't have the data to
11 really support saying that this is a product that's
12 ready to be approved by the FDA and how important
13 it is to have more than one trial really
14 well-designed without the missing data; and just to
15 say that the company was told by FDA what they
16 wanted and what kind of research they thought was
17 needed, and the company did not comply. There's no
18 reason to think they're going to comply in
19 postmarket study if they didn't do it in the
20 premarket study. Thank you.

21 DR. HOFFMAN: Thank you.

22 Will speaker number 6 step up to the podium

1 and introduce yourself? Please state your name and
2 any organization you're representing for the
3 record.

4 DR. LEPOR: My name is Herbert Lepor. I
5 have no relevant financial disclosures and have
6 supported my own travel to be here today. I have
7 served as the Martin Spatz chair of urology at NYU
8 School of Medicine for the past 26 years.

9 In 1981, my mentor, Dr. Walsh, hypothesized
10 that men were rendered impotent following radical
11 prostatectomy due to iatrogenic injury to the
12 neural innervation of the penis. My work showed
13 that the nerves mediated the erections course
14 immediately adjacent to the prostate, thereby
15 validating the anatomic splint principles, leading
16 to the anatomic nerve-sparing RP.

17 I was privileged to be a co-author on the
18 first paper describing the nerve-sparing RP. Since
19 1986, I performed approximately 5,000 of these
20 procedures and published hundreds of original
21 manuscripts focusing on improving surgical
22 outcomes. While we have come a long way from the

1 time virtually all men were rendered impotent
2 following radical prostatectomy, thanks to
3 Dr. Walsh, the impact of the surgical procedure on
4 sexual function, even in the hands of experienced
5 surgeons, remains problematic due to penile
6 shortening, penile curvature, and urinary
7 incontinence with orgasm.

8 Since urology residency and training at
9 Hopkins in the early 1980s, I've been actively
10 engaged in scholarly clinical activities, advancing
11 the screening, detection, and treatment of prostate
12 cancer. Together with our innovative faculty at
13 NYU, we have led the way in developing detection
14 pathways that reliably identifies the location of
15 clinically significant cancers within the prostate,
16 which really opened the door to focal ablation of
17 prostate cancer.

18 We have investigated at our institution
19 HIFU, cryo, photodynamic therapy, laser, and radio
20 frequency as energy sources to ablate prostate
21 cancer. We routinely perform focal therapy as an
22 outpatient procedure, technical complications are

1 rare, and men are back to work with unrestricted
2 activities in a few days.

3 In almost a thousand cases at our
4 institution, less than 1 percent of our patients
5 have experienced incontinence and rectal function
6 was preserved in over 90 percent. In the short
7 term, our biopsy results, which we just submitted
8 for publication, to the targeted area have
9 confirmed complete ablation of cancer in over
10 90 percent of patients. The immediate and
11 long-term oncologic outcomes are under active
12 investigation.

13 Since the life expectancy and treatment
14 priorities of men with prostate cancer are highly
15 variable, it's not surprising that the AUA
16 guidelines recommend active surveillance, radical
17 prostatectomy, and radiation therapy as options for
18 low intermediate risk disease. If the
19 guideline-approved spectrum of management
20 strategies for these patients range from no
21 treatment to radical prostatectomy, then it stands
22 to reason that a treatment aimed at destroying the

1 index lesion followed by active surveillance of the
2 untreated gland should also be an option for low
3 intermediate risk disease.

4 Unfortunately, there are no randomized
5 studies investigating the risks and benefits of
6 focal therapy for intermediate risk prostate
7 cancer. I believe this is the sweet spot for focal
8 therapy. Ablating the index lesion would provide
9 significant clinical benefit by reducing
10 progression to cancer, thereby reducing the need
11 for radical therapy.

12 Today I offer men with intermediate risk
13 prostate cancer active surveillance, focal
14 ablation, radical prostatectomy, and radiation
15 therapy. In the absence of level 1 evidence, men
16 make visceral and not evidence-based decisions.
17 Approving the clinical pathway for TOOKAD will
18 enable men to make more informed treatment
19 decisions.

20 Why did I take time from my clinical and
21 academic responsibilities to attend this ODAC
22 meeting? I wanted members of the panel to hear the

1 perspectives of a long-standing and continued
2 advocate of the nerve-sparing radical
3 prostatectomy, who firmly believes focal
4 therapy/ablative therapy should be a treatment
5 option for selected men with prostate cancer. I
6 therefore enthusiastically recommend that the FDA
7 embrace the clinical pathway for TOOKAD. Thank
8 you.

9 DR. HOFFMAN: Thank you.

10 The open public hearing portion of this
11 meeting has now concluded and we will no longer
12 take comments from the audience. The committee
13 will turn its attention to address the task at
14 hand, the careful consideration of the data before
15 the committee as well as the public comments.
16 Panel members should not state what their vote will
17 be, but a discussion of the topic can now occur
18 before we break for the vote.

19 DR. REWCASTLE: [Inaudible - off mic] -- for
20 question to give after the break, just an
21 additional resolution on adverse event resolution.

22 DR. HOFFMAN: Okay.

1 DR. REWCASTLE: Okay. I'll do it quickly
2 because I know we are limited on time.

3 First off, with the discussion of the
4 subjects who had a radical prostatectomy, we did
5 discuss this with Dr. Scardino. The impression is
6 that the difficulty is similar to the treatment of
7 a treatment-naive prostate.

8 Within the study, different criteria were
9 explored for potential increase in difficulties
10 such as unilateral versus bilateral VTP and also
11 which side a positive margin was on afterwards, and
12 there was no apparent increase in difficulty.
13 Continence and erectile dysfunction were determined
14 to be similar to the literature. It wasn't a
15 randomized comparison, but there was no red flags
16 that this was a hugely morbid procedure.

17 In terms of adverse event resolution, I can
18 provide a little more information. Any infections,
19 including UTI, median duration to 21 days.
20 Incontinence for those whom had incontinence, 72
21 percent of those with incontinence resolved within
22 63 days median. Perineal pain was reported in 23

1 subjects. It was only grade 3 in one subject at 35
2 weeks post-procedure. It manifested, and then 35
3 weeks subsequent it resolved. Prostatitis, which
4 was 3.7 percent of the patients, one grade 3
5 occurred 4 days after VTP and resolved within 31
6 days. Thank you.

7 **Questions to the Committee and Discussion**

8 DR. HOFFMAN: Thank you.

9 We'll now proceed with the questions to the
10 committee and panel discussions. I'd like to
11 remind public observers that while this meeting is
12 open for public observation, public attendees may
13 not participate except at the specific request of
14 the panel. The question to the committee is, do
15 the results of PCM301 represent a favorable
16 benefit-risk profile for TOOKAD in patients with
17 low-risk, early-stage prostate cancer?

18 Are there any comments or issues about the
19 wording of the question itself? Yes?

20 DR. MAKAROV: I do have a question about the
21 scope of what we're looking at. Is that fair to
22 address now?

1 DR. HOFFMAN: Yes. The FDA -- yes.

2 DR. MAKAROV: My issue is with this low-risk
3 cohort, which is actually somewhat a heterogeneous
4 cohort, and it's a different cohort than what we've
5 got now. If one believed that there is a utility
6 for this treatment for some patients within this
7 cohort but not for all patients in this cohort,
8 would one be in favor or opposed to the question?

9 DR. WEINSTOCK: First of all, thank you for
10 your question and for clarifying the intent of our
11 voting question. We're asking about all the
12 patients who were included on PCM301 to evaluate
13 the included patient population as a whole. So
14 whether there were patients who may now be
15 considered to have favorable intermediate risk
16 disease or whether there were very low-risk
17 patients, we're looking at the cohort of patients
18 enrolled as defined by PCM301. I hope that
19 clarifies.

20 DR. HOFFMAN: Dr. Sandler?

21 DR. SANDLER: Just a clarifying question.
22 This question, FDA is asking, I think, the panel's

1 opinion to this question, but the sponsor is asking
2 for an indication for use that includes grade
3 group 2 patients. Should we be considering grade
4 group 2 patients as we're addressing question 1 or
5 should we not be including grade group 2 when we're
6 talking about question 1?

7 DR. WEINSTOCK: So again, we're looking for
8 you to vote on the patients who are included in
9 PCM301, and those are low-risk patients. Gleason
10 grade group 2 at diagnosis would not have been
11 included in this patient population.

12 DR. HOFFMAN: Dr. Hawkins?

13 DR. HAWKINS: Randy Hawkins. Does FDA have
14 a protocol -- say the vote is yes and this product
15 is approved, is there an established protocol?
16 Does FDA have a protocol for use?

17 DR. WEINSTOCK: We would have a label that
18 would describe the trial conduct, and the
19 appropriate use would be based on what was done on
20 the trial and based on proper conduct. There would
21 be training involved, and the applicant can expand
22 on that in any center that would be opening this

1 because, obviously, there's a learning curve
2 involved.

3 DR. HAWKINS: Would it include the same
4 timing, biopsies, follow-up, et cetera, exactly as
5 this study was? That's what I'm asking.

6 DR. WEINSTOCK: We would probably describe
7 the way the trial was conducted, and that would be
8 the -- I mean, labeling is an ongoing issue, but we
9 generally describe the way the trial was conducted
10 and we give the indication statement.

11 DR. HOFFMAN: Okay. Do you have a question
12 about the question? Okay.

13 MR. KUNDEL: Sorry. Not to harp on this too
14 much, but just to really clarify, because it's very
15 important to me, too; in the FDA slides, on slide
16 2, the proposed indication lists both grade group 1
17 and grade group 2, but then all the data and what
18 you said is more focused on trial 301. So we're
19 really just mainly talking actually about the
20 patients who fit the inclusion criteria of trial
21 301 in reality.

22 DR. WEINSTOCK: That's correct.

1 MR. KUNDEL: Thank you.

2 DR. HOFFMAN: Okay. If there are no further
3 comments about the wording of the question, we'll
4 open the question to discussion among the panel.

5 DR. HOTAKI: This is your thoughts on the
6 question in general and not giving your vote yet,
7 but just bouncing ideas off each other and
8 generating a robust discussion around the question.

9 DR. HOFFMAN: Dr. Hawkins?

10 DR. HAWKINS: Thank you. Randy Hawkins.
11 The question for the applicant, really, is
12 availability and training. Is this essentially
13 going to be something available at tertiary centers
14 because of the devices that are required and
15 equipment that's required? That's a legitimate
16 question.

17 DR. HOFFMAN: I think we've concluded the
18 questions to the sponsor. I mean, it's a
19 reasonable question. I suspect that if this is
20 approved -- actually, I think they did comment that
21 they were planning to conduct training at the
22 various institutions where this would be available.

1 DR. BEAVER: Hi. Julia Beaver. If you
2 think it would be helpful to hear briefly about the
3 plans the applicant has, I think that that would be
4 okay.

5 DR. HOFFMAN: Can we have a comment about
6 that?

7 DR. REWCASTLE: Certainly. We're going to
8 put into place a robust training program that
9 really mirrors what we did in Europe, and we know
10 that it works because we had good consistent
11 results. That training program is going to be a
12 phase 1, classroom, didactic video, et cetera
13 training. We've got a slide on this, and hopefully
14 that comes up.

15 You'll learn who are the right patients. It
16 will be a hundred percent consistent with the
17 labeling that we do with FDA to make sure that
18 people aren't straying, to the best of our
19 abilities. To graduate to the second phase, you
20 have to pass a knowledge test. Phase 2 is
21 proctored cases, so at your institution, if you're
22 the new physician, you'd have a proctor come and

1 help you over your shoulder, and you do a minimum
2 of 5 cases and you get a certification. Once that
3 proctor is satisfied that you've demonstrated the
4 skill to do this, it is not a difficult procedure
5 for urologists because, as Dr. Gill mentioned, the
6 skill set is really in the wheelhouse of a lot of
7 procedures.

8 Just an additional comment on here is, in
9 terms of risk mitigation, we're not going to be
10 sending or delivering any drug to a center that
11 cannot provide a training number of a physician
12 who's been trained and gone through the program.
13 Hope that helps.

14 DR. HOFFMAN: Other comments among the
15 committee? Yes?

16 DR. MAKAROV: I don't know how my fellow
17 committee members feel about it, but I'm really
18 struggling with this decision for a number of
19 reasons. I do think that the trial, with due
20 respect to the sponsor, was not very well designed
21 and executed, and I do have concern about this
22 product hitting the market and then every very

1 low-risk prostate cancer patient who really should
2 be on active surveillance receiving this therapy.

3 Because it is easy to do and it is
4 relatively well tolerated, I'm also thinking that
5 among that very low-risk cohort, which is more
6 favorable today than it was there, even within
7 today's low-risk cohort, going through a process of
8 shared decision making and everything, there are
9 patients in whom treatment would be appropriate.

10 Dr. Gill had a slide listing what the
11 potential indications for this might be, and I
12 think that this product could have a lot of utility
13 in that group. Unfortunately, the trial was not
14 designed around that group and doesn't quite answer
15 that question, so I don't quite know what to do
16 with my vote. And I would love to hear other
17 people's opinions of my thinking, shoot it down, or
18 whatever.

19 DR. HOFFMAN: Dr. Garcia?

20 DR. GARCIA: I just had a comment. I think
21 for most patients -- not being a patient myself,
22 it's hard to discuss that, but I think cancer is

1 cancer, regardless of the risk that one may have.
2 When you look at the data, if you look at actually
3 what PCM306 intends to do with regard to long-term
4 follow-up data, I think in many ways I would have
5 loved to see that data play out in 301.

6 Also, I think it's nihilistic to believe
7 that the prespecified criteria for definitive
8 therapy in 306 is going to be less subjective when
9 we know in the United States some people will
10 undergo RP and some people will have radiation
11 therapy, so I'm not sure how -- even in 306, you
12 will not be able to demand what would be your local
13 definitive therapy.

14 Also, if you look at active surveillance and
15 you look at target therapy, it appears to me that
16 the intensity, the frequency of intervention, and
17 the follow-up doesn't appear to be any different
18 than those patients undergoing active surveillance.

19 Dr. Klepin mentioned early on we are paying
20 attention to lower tract urinary symptoms, PROs
21 related to sexuality, and incontinence, but when
22 you're living with cancer and not knowing if target

1 actually was able to address your disease, or not
2 locally, those PROs were not captured in this 301
3 trial. I think fundamentally that part of quality
4 of life may be as relevant and important as lower
5 tract urinary symptoms.

6 DR. HOFFMAN: Dr. Siddiqui?

7 DR. SIDDIQUI: I want to feed off actually
8 what Dr. Makarov was just saying. There are a
9 couple of thoughts that I've been trying to just
10 wrap my head around as we've been going through
11 this morning.

12 One is, I am really, as a urologist, happy
13 to see this expansion of focus from the FDA on
14 outcomes to examine when we're looking at localized
15 prostate cancer, because I couldn't agree more that
16 in this population, the traditional metrics of
17 cancer-specific mortality and whatnot are
18 prohibitive for any kind of realistic progress and
19 may not be applicable as the metric of interest to
20 this patient population, given such high survival
21 rates almost regardless of what you do. So a
22 transition of focus to a more morbidity-centric

1 approach and to early proxies of possible mortality
2 benefit I think are very beneficial here.

3 From that perspective, although I am
4 generally opposed to the thought of treating
5 Gleason grade group 1 prostate cancer, I know just
6 from my own real-world practice, being a staunch
7 advocate of active surveillance, that it's hard to
8 convince many patients to go down that route. Most
9 patients will, but there is a good proportion of
10 people who will not.

11 So there's that, and then there are just
12 lots of things that come along that take people off
13 active surveillance such that even with someone who
14 is being very proactive as a practitioner to keep
15 someone on active surveillance, a 20 to 30 percent
16 rate of treatment at 5 years out is probably a good
17 number; and I'm not sure in the community, with
18 people who are not prostate cancer specialists, if
19 that number is not much higher. It probably is.
20 So something like a 50 percent fall off from active
21 surveillance in the real world is probably real, I
22 would venture to say.

1 I personally thought that the data on
2 adverse events related to the domains of erectile
3 dysfunction were interesting in that they reflect
4 the fact that active surveillance itself is not
5 completely without its morbidity and that these
6 patients also over time have decreased rectal
7 function. That's not even taking into account that
8 20 percent, or 19 percent I guess, of that
9 population had much worse outcomes because they
10 underwent treatment.

11 So I think that, unfortunately, the
12 patient-reported outcomes and the adverse events
13 after treatment were not collected, and that's such
14 a big lost opportunity because I think it would
15 have given a lot more insight into the comparison
16 of a person on active surveillance versus a person
17 who gets this treatment and then does a modified
18 version of active surveillance, an augmented
19 version, whatever you want to call it, would
20 encounter.

21 Those are the main things I wanted to share
22 in terms of what's influencing my decision here.

1 DR. HOFFMAN: Dr. Klepin?

2 DR. KLEPIN: Thanks. Heidi Klepin, Wake
3 Forest. I also wanted to reflect on the
4 discussion, particularly the idea of having an
5 informed discussion, patient and provider, around
6 the risks and benefits and what your trade-offs are
7 in the short term. I'm thinking again about a
8 patient who's considering active surveillance
9 versus this therapy in particular. We know from
10 the trial that there's a potential short-term
11 trade-off that's a negative, so some increased risk
12 of side effect in the short term.

13 We don't really know fully what the
14 long-term trade-offs might be. We think that
15 there's benefit, potentially, although the primary
16 outcome -- or it's not a primary outcome, but the
17 outcome of moving on to definitive therapy is
18 subjective and has some limitations to it, and
19 biased in this particular study.

20 We don't have information that's, I think,
21 robust at all around the consequences of having
22 this procedure and how does that complicate a

1 future therapy, which is something that a patient
2 would want to know, and the longer term side
3 effects is certainly something a patient would want
4 to know in that conversation.

5 Then the other piece that we've talked about
6 a little bit is the lack of knowing who really
7 would benefit from this. I think there's been
8 discussion around not everybody. We seem to be
9 talking about the fact that a lot of people
10 included probably aren't the right patients for
11 this procedure, yet who is? So we don't know,
12 really, from the standpoint of biomarkers and
13 biology from this trial, nor do we know from the
14 standpoint of their patient characteristics.

15 A patient with some multimorbidity maybe
16 isn't going to benefit. We have none of those
17 data. Then we don't have data that tells us that
18 the quality of life was better in any way, which is
19 partly I think due to a lack of collecting some of
20 those data later on and not collecting some of the
21 data that we talked about earlier that we'd like.
22 So we are missing, I think, big pieces of having

1 that informed discussion, which is a challenge.

2 DR. HOFFMAN: Dr. Walsh?

3 DR. WALSH: I see a lot of patients for
4 second opinions, and I'm very confident low-risk
5 disease was offering active surveillance because of
6 the data we have at Hopkins. For over 18 years and
7 1800 patients in men with low-risk disease,
8 0.6 percent developed metastasis and 0.1 percent
9 died. Contrary to that, many patients come to me
10 frightened to death by their doctor who tells them
11 that they have cancer and they need to be treated.

12 I think if we, on less than good evidence,
13 approve this, this is something that could cause
14 more harm than good. They will be told -- and I
15 thought the presentations by the sponsor today were
16 spot-on an excellent. I thought the analysis by
17 the FDA was excellent, but what is statistically
18 significant? Like Gertrude Stein, "for a
19 difference to be a difference, it must make a
20 difference."

21 I think that most of these patients won't be
22 told that at 2 years, half of the men will still

1 have cancer, and in 28 percent it will be
2 progressing. So if I said to a patient right now,
3 "We don't have to do anything right now; this is
4 fine; right now we don't think you have a cancer
5 that needs to be treated and we're going to follow
6 you," or "We're going to give you some treatment,
7 and in 2 years there's 50 percent chance you're
8 still going to have cancer and 28 percent it's
9 going to be progressing," they might say, "Maybe we
10 should keep an eye on it."

11 But I don't think that that's what they will
12 hear. I think there were many doctors out there
13 who are in business, and this will be an
14 opportunity that will be misused. I think that the
15 thing we can't do is speed up the time clock today.
16 We have 2-year data, and I don't think the 2-year
17 data are enough to permit so many patients out
18 there who do not need treatment to have a treatment
19 that we don't know whether or not it's working
20 well.

21 DR. HOFFMAN: Dr. Hussain?

22 DR. HUSSAIN: Thank you. Hussain,

1 Northwestern. I want to share the comments, and I
2 think I'm almost echoing Dr. Walsh's comments. I'm
3 a medical oncologist. I sit on the other side of
4 the aisle, and I tend to be a tie-breaker sometimes
5 when patients are seeking local therapy versus
6 active surveillance and they seek a medical
7 oncologist. I've also seen the other side of the
8 aisle situations where a patient is on active
9 surveillance and, one way or another, 2 years
10 later, they pop up with pelvic lymph nodes.

11 So there are a lot of problems, clearly, and
12 there's no question that there's going to be a
13 population that this therapy may be appropriate.

14 With all due respect to the sponsor, I have
15 a problem with the way this study was designed and
16 how it was conducted and lots of limitations. I,
17 in fact, identified 9 different points there. That
18 to me makes it problematic at this moment.

19 Consequently, I fully share the sentiment
20 that putting this on the market will lead to wide
21 utilization and perhaps inappropriate use of this
22 for the right population. A 2-year follow-up is

1 incredibly short. It's just not enough, and not
2 having proper control arms is a problem. So,
3 again, there are multiple issues there.

4 DR. HOFFMAN: Alright. Dr. Halabi?

5 DR. HALABI: Susan Halabi, Duke University.
6 I also wanted to share my thoughts with the
7 committee because I'm mostly struggling to
8 interpret this endpoint. While this endpoint was
9 clearly defined and specified in advance, my
10 concern is this endpoint is not capable of being
11 ascertained as completely as possible as we can, as
12 we did see from the data in all patients, and it's
13 definitely not capable of unbiased estimates.

14 My concern here is we may be giving an
15 impression that this endpoint does reflect tangible
16 clinical effect to the patient and give them this
17 security when, in fact, it may not. Now, it may
18 translate to clinical benefit to the patient, but I
19 think I agree with the sentiment here that two
20 years of follow-up is not sufficient. Thank you.

21 DR. HOFFMAN: Okay. I think we should
22 proceed with the voting process. We're going to be

1 using an electronic voting system for this meeting.
2 Once we begin the vote, the buttons will start
3 flashing and will continue to flash even after you
4 have entered your vote. Please press the button
5 firmly that corresponds to your vote. If you are
6 unsure of your vote or you wish to change your
7 vote, you may press the corresponding button until
8 the vote is closed. After everyone has completed
9 their vote, the vote will be locked in.

10 The vote will then be displayed on the
11 screen. The DFO will read the vote from the screen
12 into the record. Next, we'll go around the room
13 and each individual who voted will state their name
14 and vote into the record. You can also state the
15 reason why you voted as you did if you wish to. So
16 please proceed with the vote on your microphone
17 that's flashing.

18 (Voting.)

19 DR. HOTAKI: The vote for the record is 2
20 yes; 13 no; and zero abstentions.

21 DR. HOFFMAN: Okay. Could we go around the
22 room and indicate how you voted, please, and if you

1 wish to make any comments. We'll start with
2 Dr. Walsh, right?

3 DR. HOTAKI: Dr. Cheng, if he wants to
4 comment at all.

5 DR. HOFFMAN: Oh, okay.

6 DR. WALSH: I voted no.

7 DR. HOFFMAN: I'm sorry. State your name.

8 DR. WALSH: Patrick Walsh. I voted no.

9 DR. HOFFMAN: I think we probably heard
10 why --

11 (Laughter.)

12 DR. HOFFMAN: -- during your comments.

13 DR. SANDLER: Howard Sandler. I voted no as
14 well. I think for true low-risk patients who are
15 eligible for the 301 study, I strongly prefer
16 active surveillance as their treatment. For
17 patients who, for whatever reason, don't want to do
18 active surveillance, I think they should have
19 proven anticancer therapy with surgery or
20 radiation.

21 I'm not sure that a treatment that has some
22 risk of morbidity is an ideal option for someone

1 with a cancer that doesn't need any treatment.
2 Like some of the others, I'm wrestling with this a
3 little bit as well because I think there's
4 something, I believe, that's real and effective
5 going on. But I think for true low-risk patients,
6 as the question was framed by FDA, I'm comfortable
7 with my no vote.

8 DR. HOFFMAN: Dr. Makarov?

9 DR. MAKAROV: Dan Makarov, NYU. I voted no.
10 I was highly, highly ambivalent and on the fence
11 until the last few minutes. For me, I think this
12 is a reasonably good technology, and it would be
13 great to offer this to patients, but not the
14 patients in this group.

15 I think that the study sponsors, the way
16 that the trial was designed and executed, it
17 doesn't demonstrate the -- I don't think it
18 demonstrates a favorable risk in this population,
19 and I know that for sure, as Dr. Walsh was
20 commenting, when you let the genie out of the
21 bottle, this is going to be definitely misused.

22 I think before we do that, I think we need

1 just stronger data, but I'm really on the fence
2 because I could see how this would be beneficial to
3 some patients but not the ones in this study as was
4 demonstrated here.

5 DR. HUSSAIN: Maha Hussain, and I voted no,
6 and I articulated some of my concerns. The part
7 that I struggled with is how do you justify saying
8 to a patient, "By the way, I don't think you really
9 need a treatment, and we really should watch you
10 but, by the way, I'm going to give you a sprinkle
11 of a treatment"? That to me is one big area in
12 terms of the struggle there.

13 I also think that the data, because of the
14 problem in the study design and conduct, it's
15 subject to flaws and interpretation. I think some
16 proper, stronger, very well-controlled trial is
17 going to be necessary.

18 DR. SIDDIQUI: Minhaj Siddiqui, and I voted
19 no. As I mentioned, I'm actually very encouraged
20 by the direction things are going. I really think
21 that there is an important space here that will
22 benefit patients greatly. I just felt that with

1 this particular indication, knowing what the focal
2 therapy community in general is talking about when
3 we're debating the topic of who should get focal
4 therapy, or at least subtotal gland therapy, it's
5 never really unilateral Gleason 6 prostate cancer
6 that's low volume, and that's, I think, not the
7 right direction to take things.

8 So I'm encouraged by the future plans for
9 trials to do Gleason grade group 2 patients, and it
10 would be wonderful to see data longer than 2 years
11 with complete data collection in the future to
12 hopefully see how that turns out.

13 MR. KUNGEL: Terry Kungel. I voted no. But
14 let me start by saying that one of the things that
15 I think this panel was addressing was when we were
16 all talking in 2018 about trying to develop new
17 endpoints, that's something that is critically
18 important to the patient community because overall
19 survival and progression-free can take so long that
20 we won't be able to really get effective
21 information as soon as we need it.

22 So I think trying to approach this from the

1 standpoint of different new endpoints, terrific. I
2 don't think we actually delivered on an effective
3 way to define those endpoints. I think there are
4 great issues about whether, as described and
5 defined, they're actually useful. I think in terms
6 of the final vote, we've got too many adverse
7 events that were not reported.

8 I would make the argument that for 306, we
9 absolutely, positively need to move to MRI. The
10 standard TRUS biopsies are producing too many false
11 reports. We need to get 306 using MRI and MRI
12 only.

13 I would like for 306 to look at measuring
14 depression and anxiety because that's a big piece
15 of what prostate cancer patients are going through.
16 And if we don't know what's going on with the
17 patients in that regard, I don't know that we can
18 properly interpret the data.

19 The other thing I was going to say, we had
20 essentially 350 men in 47 different sites. I've
21 got a question about how you ever manage
22 consistency and the quality of that data, so that

1 was the decision to give a no.

2 DR. HAWKINS: Randy Hawkins. I voted no;
3 concerns about the duration of the study, a short
4 duration. I really wanted to see more objective
5 quality-of-life information. I had real concerns
6 about unknown complications of salvage and just the
7 population limitations.

8 DR. GARCIA: Jorge Garcia. I voted no,
9 similar comments as the group before; specifically
10 the study design and conduct; the lack of long-term
11 follow-up; and the lack of understanding and
12 whether or not it's worth it for you to embark on
13 potential side effects when at the end of the day,
14 you can still achieve cure by undergoing a late
15 radical prostatectomy or radiation therapy.

16 DR. CRISTOFANILLI: Massimo Cristofanilli.
17 I voted no. There are a number of reasons. There
18 were some articulated already. First of all, the
19 clinical trial, we were trying to interpret the
20 primary endpoints that were clearly explained and
21 there were some issues with that, the methods, the
22 biopsy, and the safety, the long-term safety.

1 Also, I think what was important, especially
2 from the expert, was this low-risk group that was
3 not clearly defined, and it's changing every day.
4 The surveillance in this group seems to suggest
5 that, obviously, there is much less risk with what
6 has been studied in the European patients, so I
7 think it would not apply. Besides, the population
8 who are going to be using this with African
9 Americans is not represented.

10 DR. HOFFMAN: Philip Hoffman, University of
11 Chicago. I voted yes. I was very much torn and on
12 the fence, as well, as I think a number of my
13 colleagues here, but I eventually came down on the
14 positive.

15 Despite the flaws that had been very well
16 articulated in the analysis, the endpoints, and so
17 on, I noted that I thought there was more positive
18 than negative in the sense that the study did meet
19 its endpoints. I think that the focal therapy does
20 represent potentially an important option for many
21 men with prostate cancer.

22 So despite the flaws and the expectation

1 that the next study should address many of those
2 flaws, I thought, on balance, it could still be
3 available for patients as an option.

4 DR. KLEPIN: Heidi Klepin. I voted no for
5 all the reasons that were articulated. I do think
6 this is a promising therapy, but I don't think the
7 data that was presented in this study clearly
8 showed a clinically meaningful benefit that
9 outweighed the risks for all the limitations that
10 were already mentioned.

11 I do have concern, as was articulated, that
12 because of the nature of how this could be applied
13 if it were approved, the use would be much more, in
14 some ways, indiscriminant from the standpoint of
15 affecting patients, where we would see a
16 significantly worse adverse event profile in
17 patients who otherwise would have done very well
18 with active surveillance alone.

19 DR. HINRICHS: Christian Hinrichs. I voted
20 no. It's hard for a study to recover from
21 problematic endpoints. I think that this study
22 began with that, and it didn't recover. It's

1 deeply flawed for a number of reasons that were
2 articulated by other members of the committee. The
3 real-world implications for this were well
4 articulated by Dr. Walsh. I think that what he
5 said is true and very troubling, and that's why I
6 voted no,

7 DR. HALABI: Susan Halabi. I voted also no.
8 I think it's often more challenging to determine
9 what's clinically significant. The question that I
10 struggled most with is whether we have sufficient
11 data to approve this drug based on one trial when
12 the scientific and the regulatory community do not
13 have experience with that.

14 On the other hand, I think we all recognize
15 that there is a clear need to identify acceptable
16 endpoints, and this is something that it's good
17 that the FDA is pushing in the right direction.

18 The other reasons why I also voted no and
19 other contributing factors were limitations in the
20 design and conduct and the representation of the
21 patients on the trial because I'm not sure that the
22 results would be applicable to the U.S. patients.

1 DR. RINI: Brian Rini from Vanderbilt. I
2 voted yes. I agree with everyone in terms of the
3 uncertainties and limitations of the trial. I
4 think a lot of this and the reason we're probably
5 here today is that this area is fraught with
6 uncertainty, and it's been a moving target over a
7 decade in terms of how do we biopsy, how do we
8 ascertain, how do we treat these people, and what's
9 the protocol for active surveillance?

10 So I'd actually like to give the company
11 credit for doing a randomized trial in this setting
12 when, to my knowledge, none of the other focal
13 therapies, which are uncommon, have done. I feel
14 like they're being penalized a little bit for doing
15 the trial in an era when a lot of this wasn't
16 decided, and TRUS was the standard of care and
17 active surveillance was done a certain way, et
18 cetera, et cetera.

19 Again, I think it was a reasonable effort.
20 Despite those uncertainties, I understand the
21 limitations of the endpoints, which is, again, I
22 think just the limitation of the field. But to me,

1 the magnitude of benefit, even despite those
2 limitations, was compelling.

3 To me it really comes down to what we do in
4 medical practice every day, where we're trading off
5 exposing a higher number of patients to a set of
6 risks and hoping to avoid a higher set of risks for
7 a subset of patients, and that's just what shared
8 decision making is about. So that's why I came
9 down on the side of yes.

10 I would just say I agree with everyone that
11 long-term follow-up data in the 306 study plan
12 hopefully will solve some of these issues, but my
13 guess is we could be sitting here in 3 to 5 years
14 discussing that study and how the field has moved
15 on from that study. So some of this is just
16 limitations of this area that I don't think are
17 going to go away.

18 DR. SONG: Daniel Song. I voted no. I
19 share the concerns that have been well expressed by
20 other members of the committee. In particular,
21 what Dr. Siddiqui pointed out was the high biopsy
22 positivity rate in the treated areas. It's not

1 like the treatment is even completely effective,
2 and how does that affect patient's perceptions in
3 follow-up? Some of the patients didn't come for
4 their follow-up biopsies. One can imagine that
5 perhaps maybe they had their 3- or 6-month PSAs and
6 saw maybe it wasn't going up.

7 So it was not a blinded trial and
8 unfortunately you can't control for that. I do
9 share the enthusiasm for focal therapy. I think
10 it's going to find a place in the treatment of
11 prostate cancer in the future, but I don't think
12 with 2-year data that we have in this study, that
13 we are ready to approve it for general use.

14 I really look forward to the results of the
15 306 study. I sympathize with the public comments
16 that it would be great to offer focal therapy as
17 sort of a niche between active surveillance and
18 treatment, but there are other focal therapies
19 which are currently being practiced.

20 Now, those were approved, I believe, under a
21 510(k) mechanism, yet this would not be the only
22 player in the focal therapy arena. I believe focal

1 therapy in general should remain investigational.

2 **Adjournment**

3 DR. HOFFMAN: Okay. Well, I thank everyone
4 for their comments. I think, obviously, the vote
5 is negative, but I have the sense that we've all
6 somewhat shared the fence sitting about some of the
7 positives, potential positives, of focal therapy
8 for a disease that prior to recently hasn't had
9 much focal therapy; but recognize that the
10 limitations of this study, some of the not
11 uncertainties but variability of the biopsy reports
12 or surprising results of some of the biopsies,
13 despite the therapy and so on, I think led the
14 majority of people to vote no.

15 I think that it may well be that in the
16 coming years as more data accumulates, including
17 potentially on the plan study 306, that the vote
18 may completely turn around as there is more clear
19 data about this.

20 I thank everyone for their thoughtful
21 comments and anxiety over this. We'll now adjourn
22 the morning session and break for lunch. We'll

1 reconvene in this room in an hour, at 1:15, at
2 which time we'll begin the afternoon session. For
3 those of you who are only here for the morning
4 session, I thank you for your participation.

5 (Whereupon, at 12:11 p.m., the morning
6 session was adjourned.)

7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22