

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ONCOLOGIC DRUG ADVISORY COMMITTEE (ODAC)

Wednesday, February 26, 2020
1:15 p.m. to 4:48 p.m.

Afternoon Session

FDA White Oak Campus
Building 31, the Great Room
10903 New Hampshire Avenue
Silver Spring, Maryland

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Meeting Roster

DESIGNATED FEDERAL OFFICER (Non-Voting)

Lauren Tesh Hotaki, PharmD, BCPS, BCIDP

Division of Advisory Committee and

Consultant Management

Office of Executive Programs, CDER, FDA

ACTING DESIGNATED FEDERAL OFFICER (Non-Voting)

ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)

Massimo Cristofanilli, MD, FACP

Associate Director of Translational Research and

Precision Medicine

Robert H. Lurie Comprehensive Cancer Center

Chicago, Illinois

Jorge A. Garcia, MD

Former Associate Professor of Medicine

Cleveland Clinic Lerner College of Medicine

Case Western Reserve University

Cleveland, Ohio

1 **Susan Halabi, PhD**

2 Professor of Biostatistics and Bioinformatics

3 Duke University Medical Center

4 Durham, North Carolina

5

6 **Christian S. Hinrichs, MD**

7 Investigator & Lasker Clinical Research Scholar

8 Experimental Transplantation and

9 Immunology Branch

10 National Cancer Institute (NCI)

11 National Institutes of Health (NIH)

12 Bethesda, Maryland

13

14 **Philip Hoffman, MD**

15 *(Chairperson)*

16 Professor of Medicine

17 The University of Chicago

18 Section of Hematology/Oncology

19 Department of Medicine

20 Chicago, Illinois

21

22

1 **Heidi D. Klepin, MD, MS**

2 Professor of Internal Medicine

3 Section of Hematology and Oncology

4 Wake Forest University Health Sciences

5 Winston Salem, North Carolina

6

7 **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBER**

8 **(Non-Voting)**

9 **Jonathan D. Cheng, MD**

10 *(Industry Representative)*

11 Vice President and Oncology Therapeutic Area Head

12 Merck Research Laboratories, Oncology

13 Clinical Research

14 North Wales, Pennsylvania

15

16 **TEMPORARY MEMBERS (Voting)**

17 **John Deeken, MD**

18 *(Afternoon Session Only)*

19 President, Inova Schar Cancer Institute

20 Fairfax, Virginia

21

22

1 **Randy W. Hawkins, MD**

2 *(Acting Consumer Representative)*

3 Department of Internal Medicine

4 Internal Medicine/Pulmonary & Critical Care

5 Charles R. Drew University of Medicine and Science

6 Los Angeles, California

7

8 **Shakun Malik, MD**

9 *(Afternoon Session Only)*

10 Head, Thoracic and Head & Neck Cancer

11 Therapeutics

12 Clinical Investigations Branch

13 Cancer Therapeutics Evaluation Program

14 Division of Cancer Treatment and Diagnosis

15 NCI, NIH

16 Bethesda, Maryland

17

18 **Tracy G. Matson**

19 *(Patient Representative; Afternoon Session Only)*

20 Little Rock, Arkansas

21

22

1 **Eva Szabo, MD**

2 *(Afternoon Session Only)*

3 Chief, Lung & Upper Aerodigestive Cancer

4 Research Group, Division of Cancer Prevention

5 NCI, NIH

6 Bethesda, Maryland

7

8 **FDA PARTICIPANTS (Non-Voting)**

9 **Richard Pazdur, MD**

10 Director, Oncology Center of Excellence (OCE)

11 Acting Director, Office of Oncologic Diseases (OOD)

12 Office of New Drugs (OND), CDER, FDA

13

14 **Harpreet Singh, MD**

15 *(Afternoon session only)*

16 Acting Director

17 Division of Oncology 2 (DO2)

18 OOD, OND, CDER, FDA

19

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Erin Larkins, MD

(Afternoon session only)

Cross-Discipline Team Leader

Thoracic and Head & Neck Cancers Team

DO2, OOD, OND, CDER, FDA

Barbara Sceपुरa, MS, CRNP

(Afternoon session only)

Clinical Reviewer

Thoracic and Head & Neck Cancers Team

DO2, OOD, OND, CDER, FDA

Xiaoxue Li, PhD

(Afternoon session only)

Statistics Reviewer

DBV, OB, OTS, CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Philip Hoffman, MD	10
5	Conflict of Interest Statement	
6	Lauren Hotaki, Pharm D, BCPS, BCIDP	13
7	FDA Opening Remarks	
8	Erin Larkins, MD, CDR, USPHS	17
9	Applicant Presentations - Eli Lilly	
10	Introduction	
11	Allen Melemed, MD, MBA	29
12	Unmet Medical Need	
13	Everett Vokes, MD	35
14	Efficacy	
15	Paolo Abada, MD, PhD	40
16	Safety	
17	Carla Visseren, MD	51
18	Clinical Perspective	
19	John Heymach, MD, PhD	60
20	FDA Presentation	
21	BLA: 125477 s34: Ramucirumab	
22	Barbara Scepura, MS, CRNP	67

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C O N T E N T S (continued)

AGENDA ITEM	PAGE
Clarifying Questions to Presenters	82
Open Public Hearing	129
Questions to the Committee and Discussion	146
Adjournment	178

P R O C E E D I N G S

(1:15 p.m.)

Call to Order

Introduction of Committee

DR. HOFFMAN: Good afternoon and welcome back to some. I'd first like to remind everyone to please silence your cell phones, smartphones, and any other devices if you've not already done so. I'd also like to identify the FDA press contact, Brittney Manchester. Thank you.

My name is Philip Hoffman. I'm the chairperson for this meeting. I will now call the afternoon session of today's meeting of the Oncologic Drugs Advisory Committee to order. We'll start by going around the table and introduce ourselves, starting with the FDA to my left and go around the table.

DR. PAZDUR: Richard Pazdur, director, Oncology Center of Excellence.

DR. SINGH: Harpeet Singh, acting director, Division of Oncology 2.

CDR LARKINS: Erin Larkins, the thoracic

1 head and neck team lead.

2 MS. SCEPURA: Barbara Scepura, clinical
3 reviewer.

4 DR. LI: Xiaoxue Li, statistics.

5 DR. HALABI: Susan Halabi, statistician,
6 Duke University.

7 DR. HINRICHS: Christian Hinrichs,
8 investigator, NCI.

9 DR. KLEPIN: Heidi Klepin, geriatric
10 oncologist, Wake Forest.

11 DR. HOTAKI: Lauren Tesh Hotaki, designated
12 federal officer.

13 DR. HOFFMAN: Philip Hoffman, medical
14 oncologist, University of Chicago.

15 DR. CRISTOFANILLI: Massimo Cristofanilli,
16 medical oncology, Northwestern University.

17 DR. GARCIA: Jorge Garcia, GU medical
18 oncologist, formerly at the Cleveland Clinic and
19 now transitioning as a division chair of oncology
20 at Simon Cancer Center, Case Western Reserve.

21 DR. HAWKINS: Randy Hawkins, internal
22 medicine and pulmonary medicine, Charles Drew

1 University, Los Angeles.

2 MR. MATSON: Tracy Matson, patient
3 representative, Little Rock.

4 DR. MALIK: Shakun Malik, NCI, CTEP.

5 DR. DEEKEN: John Deeken, medical oncologist
6 from the INOVA Health System.

7 DR. SZABO: Eva Szabo, medical oncologist,
8 NCI.

9 DR. CHENG: Jon Cheng, medical oncology.
10 I'm the industry rep, and I'm with Merck Research
11 Labs.

12 DR. HOFFMAN: For topics such as those being
13 discussed at today's meeting, there are often a
14 variety of opinions, some of which are quite
15 strongly held. Our goal is that today's meeting
16 will be a fair and open forum for discussion of
17 these issues and that individuals can express their
18 views without interruption.

19 Thus, as a gentle reminder, individuals will
20 be allowed to speak into the record only if
21 recognized by the chairperson. We look forward to
22 a productive meeting.

1 In the spirit of the Federal Advisory
2 Committee Act and the Government in the Sunshine
3 Act, we ask that the advisory committee members
4 take care that their conversations about the topic
5 at hand take place in the open forum of the
6 meeting.

7 We are aware that members of the media are
8 anxious to speak with the FDA about these
9 proceedings, however, FDA will refrain from
10 discussing the details of this meeting with the
11 media until its conclusion. Also, the committee is
12 reminded to please refrain from discussing the
13 meeting topic during breaks or lunch. Thank you.

14 Now I'll pass to Dr. Lauren Hotaki who will
15 read the Conflict of Interest Statement.

16 **Conflict of Interest Statement**

17 DR. HOTAKI: The Food and Drug
18 Administration is convening today's meeting of the
19 Oncologic Drugs Advisory Committee under the
20 authority of the Federal Advisory Committee Act of
21 1972. With the exception of the industry
22 representative, all members and temporary voting

1 members of the committee are special government
2 employees or regular federal employees from other
3 agencies and are subject to federal conflict of
4 interest laws and regulations.

5 The following information on the status of
6 this committee's compliance with federal ethics and
7 conflict of interest laws, covered by but not
8 limited to those found at 18 U.S.C. Section 208, is
9 being provided to participants in today's meeting
10 and to the public.

11 FDA has determined that members and
12 temporary voting members of this committee are in
13 compliance with federal ethics and conflict of
14 interest laws.

15 Under 18 U.S.C. Section 208, Congress has
16 authorized FDA to grant waivers to special
17 government employees and regular federal employees
18 who have potential financial conflicts when it is
19 determined that the agency's need for a special
20 government employee's services outweighs his or her
21 potential financial conflict of interest or when
22 the interest of a regular federal employee is not

1 so substantial as to be deemed likely to affect the
2 integrity of the services at which the government
3 may expect from the employee.

4 Related to the discussion of today's
5 meeting, members and temporary voting members of
6 this committee have been screened for potential
7 financial conflicts of interest of their own as
8 well as those imputed to them, including those of
9 their spouses or minor children and, for purposes
10 of 18 U.S.C. Section 208, their employers. These
11 interests may include investments; consulting;
12 expert witness testimony; contracts, grants,
13 CRADAs; teaching, speaking, writing; patents and
14 royalties; and their primary employment.

15 The afternoon session of today's agenda
16 involves discussion of supplemental biologics
17 license application 125477, supplement 34, for
18 Cyramza, ramucirumab, injection for intravenous use
19 submitted by Eli Lilly and Company. The proposed
20 indication used for this product is in combination
21 with erlotinib, for first-line treatment of
22 patients with metastatic non-small cell lung cancer

1 whose tumors have epidermal growth factor receptor
2 exon 19 deletions or exon 21 L858R substitution
3 mutations.

4 This is a particular matters meeting during
5 which specific matters related to Eli Lilly's
6 supplemental BLA will be discussed. Based on the
7 agenda for today's meeting and afternoon session,
8 and all financial interests reported by the
9 committee members and temporary voting members, no
10 conflict of interest waivers have been issued in
11 connection with this meeting.

12 To ensure transparency, we encourage all
13 standing committee members and temporary voting
14 members to disclose any public statements that they
15 have made concerning the product at issue.

16 With respect to FDA's invited industry
17 representative, we would like to disclose that
18 Jonathan Cheng is participating in this meeting as
19 a non-voting industry representative acting on
20 behalf of regulated industry. Dr. Cheng's role at
21 this meeting is to represent industry in general
22 and not any particular company. Dr. Cheng is

1 employed by Merck Research Laboratories.

2 We would like to remind members and
3 temporary voting members that if discussions
4 involve any other products or firms not already on
5 the agenda for which an FDA participant has a
6 personal or imputed financial interest, the
7 participants need to exclude themselves from such
8 involvement and their exclusion will be noted for
9 the record.

10 FDA encourages all other participants to
11 advise the committee of any financial relationships
12 that they may have with the firm at issue. Thank
13 you.

14 DR. HOFFMAN: We will now proceed with the
15 FDA's opening remarks from Dr. Erin Larkins.

16 **FDA Opening Remarks - Erin Larkins**

17 CDR LARKINS: Good afternoon. My name is
18 Commander Erin Larkins. I'm a medical oncologist
19 and team leader for the thoracic and head and neck
20 cancers team in the Division of Oncology 2, in the
21 Office of Oncologic Diseases. I'd like to extend
22 my thanks to the members of the advisory committee,

1 the Eli Lilly team, invited guests, visitors, and
2 FDA colleagues for attending and participating in
3 today's discussion of the supplemental application
4 for ramucirumab BLA 125477.

5 In my introductory comments, I will provide
6 a brief background on the application, FDA's
7 reasons for requesting input from the committee,
8 and the issues that FDA has identified for
9 consideration during today's discussion.

10 Ramucirumab is a vascular endothelial growth
11 factor receptor 2, VEGFR2, inhibitor, approved for
12 several indications, including in combination with
13 docetaxel for the treatment of patients with
14 metastatic non-small cell lung cancer with disease
15 progression on or after platinum-based
16 chemotherapy.

17 Eli Lilly seeks approval of ramucirumab for
18 use in combination with erlotinib for first-line
19 treatment of patients with metastatic non-small
20 cell lung cancer with epidermal growth factor
21 receptor, EGFR, exon 19 deletions, or exon 21 L858R
22 mutation, hereafter referred to as EGFR

1 mutation-positive non-small cell lung cancer.

2 Oncogenic driver mutations in EGFR are
3 present in approximately 15 to 30 percent of
4 non-small cell lung cancers of adenocarcinoma
5 histology with EGFR exon 19 deletions and exon 21
6 L858R substitution mutations the most common.
7 Recommended first-line treatment for patients with
8 EGFR mutation-positive non-small cell lung cancer
9 is single-agent therapy with an EGFR tyrosine
10 kinase inhibitor.

11 Over the past decade, one of the major
12 advancements in the treatment of non-small cell
13 lung cancer has been the identification and
14 targeting of genomic tumor aberrations such as EGFR
15 mutations. The development of drugs targeting
16 these genomic tumor aberrations has changed the
17 treatment landscape for non-small cell lung cancer
18 and is believed to be one of the factors which has
19 led to the recently reported improvements in lung
20 cancer survival rates.

21 For EGFR mutation-positive non-small cell
22 lung cancer, this path forward started with the

1 development of EGFR tyrosine kinase inhibitors such
2 as erlotinib and gefitinib and progressed to more
3 effective, later generation EGFR tyrosine kinase
4 inhibitors. For example, osimertinib, the EGFR
5 tyrosine kinase inhibitor most recently approved
6 for the first-line treatment of EGFR
7 mutation-positive non-small cell lung cancer,
8 demonstrated an improvement in overall survival
9 when compared to investigator's choice of erlotinib
10 or gefitinib. Ramucirumab is a VEGFR2 inhibitor
11 that does not specifically target an oncogenic
12 driver mutation.

13 Results of the RELAY trial provide the
14 primary evidence to support the safety and
15 effectiveness of ramucirumab administered in
16 combination with erlotinib for the proposed
17 indication. As depicted in this slide, RELAY is a
18 double-blind, randomized, placebo-controlled
19 multicenter trial. They randomized 449 patients
20 with metastatic EGFR mutation-positive non-small
21 cell lung cancer to receive either ramucirumab in
22 combination with erlotinib or placebo in

1 combination with erlotinib until disease
2 progression or intolerable toxicity.

3 The primary efficacy outcome measure for
4 the RELAY trial was progression-free survival, or
5 PFS, according to investigator assessment using
6 Response Evaluation Criteria for Solid Tumors,
7 Version 1.1.

8 The primary analysis of RELAY demonstrated a
9 statistically significant improvement in PFS as
10 assessed by investigator in patients randomized to
11 receive ramucirumab plus erlotinib compared to
12 patients randomized to placebo plus erlotinib. The
13 hazard ratio for PFS was 0.59 with a 95 percent
14 confidence interval of 0.46 to 0.76, corresponding
15 to a median improvement in PFS of 7 months.

16 Results for PFS, as assessed by a blinded
17 independent central review, demonstrated the
18 difference in median PFS of 5.4 months with a
19 hazard ratio of 0.67 and a 95 percent confidence
20 interval of 0.52 to 0.87, favoring the ramucirumab
21 plus erlotinib arm.

22 Overall survival is the key secondary

1 endpoint for the RELAY trial. The statistical
2 analysis plan specified an interim analysis of
3 overall survival to be conducted at the time of the
4 final PFS analysis, with the final analysis of
5 overall survival plan to occur after approximately
6 300 deaths are observed. However, the study did
7 not prespecify effect size assumptions for the
8 overall survival analysis.

9 At the time of the interim analysis, overall
10 survival results were immature. A total of 79
11 deaths had occurred, 26 percent of the required
12 events for the final overall survival analysis.
13 Median overall survival was not reached in either
14 arm, and the hazard ratio was 0.83 with a
15 95 percent confidence interval of 0.53 to 1.30.

16 At FDA's request, Lily provided an updated
17 analysis of overall survival with a data cutoff
18 date of December 31, 2019. At the time of this
19 updated analysis, a total of 125 deaths had
20 occurred corresponding to 42 percent of the
21 required events for the overall survival analysis.
22 Median overall survival was still not reached in

1 either arm and the hazard ratio was 0.92 with a
2 95 percent confidence interval of 0.65 to 1.32.

3 In general, the safety profile of
4 ramucirumab plus erlotinib observed in RELAY was
5 consistent with the known adverse reaction profiles
6 of ramucirumab and erlotinib. Patients receiving
7 ramucirumab plus erlotinib experienced a higher
8 incidence of grade 3 or higher adverse events and
9 serious adverse events. Events of interest
10 occurring at a higher incidence in patients
11 receiving ramucirumab plus erlotinib included
12 hypertension, bleeding, proteinuria, and severe
13 infections.

14 Over the last decade, for the first-line
15 treatment of metastatic non-small cell lung cancer,
16 FDA approvals of therapies which do not
17 specifically target oncogenic driver mutations have
18 been based on demonstration of an improvement in
19 overall survival. Progression-free survival has
20 been used by FDA as the primary endpoint to support
21 approval for the first-line treatment of metastatic
22 non-small cell lung cancer for drugs that

1 specifically target oncogenic driver mutations.

2 In studies of earlier generation EGFR TKIs,
3 crossover was an issue confounding the
4 interpretation of overall survival results. In
5 more recent studies comparing third generation EGFR
6 tyrosine kinase inhibitors to first generation EGFR
7 tyrosine kinase inhibitors, improvement in overall
8 survival has been observed.

9 FDA has identified two issues related to the
10 benefit-risk assessment of ramucirumab administered
11 in combination with erlotinib in this application,
12 which will be described in the next two slides.

13 As previously mentioned, ramucirumab is a
14 VEGFR2 inhibitor that does not specifically target
15 an oncogenic driver mutation. While the RELAY
16 study enrolled patients with EGFR mutation-positive
17 non-small cell lung cancer, all patients in the
18 study received the EGFR tyrosine kinase inhibitor
19 erlotinib, and ramucirumab is considered the
20 investigational agent in this study.

21 Post-progression use of ramucirumab is not an issue
22 for the RELAY study, as only 4 percent of patients

1 in the control arm received ramucirumab as
2 subsequent treatment.

3 FDA acknowledges that a difference in
4 progression-free survival favoring the ramucirumab
5 plus erlotinib arm has been demonstrated in the
6 RELAY trial. However, based on the results of the
7 updated analysis of overall survival requested by
8 FDA, with 42 percent of the events required for the
9 final analysis, the hazard ratio for overall
10 survival is 0.92 with a 95 percent confidence
11 interval of 0.65 to 1.32.

12 Given the upper limit of the 95 percent
13 confidence interval of 1.3, the results suggest
14 uncertainty regarding the effect of treatment with
15 ramucirumab plus erlotinib on overall survival,
16 including the possibility of a detrimental effect
17 on survival. In the context of an add-on therapy
18 associated with increased toxicity, FDA considers
19 this a potential safety concern.

20 The second issue relates to the increased
21 toxicity associated with the addition of
22 ramucirumab to erlotinib. In the RELAY study, the

1 incidence of grade 3 or higher adverse events was
2 almost 20 percent higher in patients receiving
3 ramucirumab plus erlotinib than in those receiving
4 placebo plus erlotinib. The incidence of serious
5 adverse reactions was also higher in patients
6 treated with ramucirumab plus erlotinib.

7 Events of interest occurring at a higher
8 incidence in patients receiving ramucirumab plus
9 erlotinib included hypertension, bleeding,
10 proteinuria, and severe infections. The incidences
11 of both all-grade and grade 3 hypertension were
12 approximately 4 times higher in the ramucirumab
13 plus erlotinib arm than in the placebo plus
14 erlotinib arm.

15 Notably, almost a quarter of patients
16 receiving ramucirumab plus erlotinib experienced
17 hypertension requiring 3 or more antihypertensives
18 versus 2 percent of patients receiving placebo plus
19 erlotinib.

20 As part of the benefit-risk assessment for
21 ramucirumab administered in combination with
22 erlotinib for the first-line treatment of patients

1 with EGFR mutation-positive non-small cell lung
2 cancer, FDA considers the totality of information,
3 including the observed improvement in PFS in the
4 RELAY study; available results for the key
5 secondary endpoints, including overall survival;
6 and the increased toxicity associated with an
7 add-on therapy.

8 FDA referred this application to the
9 advisory committee due to uncertainty regarding the
10 potential benefit provided to patients given the
11 absence of mature overall survival data and the
12 increased toxicity associated with the addition of
13 ramucirumab to erlotinib.

14 While the treatment of patients with EGFR
15 mutation-positive non-small cell lung cancer
16 remains an unmet medical need, there are therapies
17 currently approved for which an improvement in
18 overall survival has been observed when compared to
19 first-generation EGFR tyrosine kinase inhibitors.

20 FDA is seeking the committee's opinion on
21 whether the benefit-risk profile of ramucirumab
22 plus erlotinib is favorable for the first-line

1 treatment of patients with EGFR mutation-positive
2 non-small cell lung cancer. This concludes my
3 remarks. Thank you for your attention.

4 DR. HOFFMAN: Thank you.

5 Both the Food and Drug Administration and
6 the public believe in a transparent process for
7 information gathering and decision making. To
8 ensure such transparency at the advisory committee
9 meeting, FDA believes that it is important to
10 understand the context of an individual's
11 presentation.

12 For this reason, FDA encourages all
13 participants, including the sponsor's non-employee
14 presenters, to advise the committee of any
15 financial relationships that they may have with the
16 firm at issue such as consulting fees, travel
17 expenses, honoraria, and interest in the sponsor,
18 including equity interest and those based upon the
19 outcome of the meeting.

20 Likewise, FDA encourages you at the
21 beginning of your presentation to advise the
22 committee if you do not have any such financial

1 relationships. If you choose not to address this
2 issue of financial relationships at the beginning
3 of your presentation, it will not preclude you from
4 speaking.

5 We will now proceed with the applicant's
6 presentations.

7 **Applicant Presentation - Allen Melemed**

8 DR. MELEMED: Good afternoon. I am Allen
9 Melemed, senior director of global regulatory
10 affairs for Eli Lilly and company. I'm a pediatric
11 oncologist by training. I'd like to thank the FDA
12 and the Oncologic Drugs Advisory Committee for the
13 opportunity to present data from the RELAY study.

14 For more than five years, Cyramza, also
15 known as ramucirumab, has been approved in the
16 United States. In 2014, Cyramza was approved in
17 combination with docetaxel for second-line
18 treatment of metastatic non-small cell lung cancer
19 based on improvements of both progression-free and
20 overall survival. In addition, Cyramza is also
21 approved for the treatment of patients who have
22 second-line gastric cancer, colorectal cancer, and

1 hepatocellular carcinoma either as a single agent
2 or in combination with chemotherapy.

3 To date, more than 125,000 patients have
4 been treated with Cyramza worldwide. Lilly is
5 seeking a new indication for Cyramza in combination
6 with erlotinib for the first-line treatment of
7 patients with metastatic non-small cell lung cancer
8 with activating EGFR mutations.

9 Cyramza is a recombinant human monoclonal
10 antibody antagonist of vascular endothelial growth
11 factor receptor 2 developed for the treatment of
12 solid tumors. Cyramza specifically binds to the
13 vascular endothelial growth factor receptor 2,
14 which blocks the binding of three ligands: VEGF-A,
15 VEGF-C, and VEGF-D. This is unique from other
16 molecules that specifically inhibit the VEGF-A
17 ligand such as Avastin.

18 The rationale for the combination therapy
19 was supported by preclinical data, indicating that
20 dual blockade of VEGF and EGFR pathways would be
21 more effective than either approach alone. This is
22 a similar approach to other synergistic

1 combinations of targeted agents, for example, BRAF
2 and MEK inhibition, where one agent inhibits the
3 oncogenic driver and the other inhibits a
4 downstream target.

5 Let me explain. It is known that the VEGF
6 secreted by tumor cells act in a paracrine manner
7 to promote angiogenesis, however, the autocrine
8 activity of VEGF triggers intratumor kinase
9 pathways. This is where VEGF and EGFR are
10 interconnected.

11 In addition to EGFR activation increasing
12 VEGF expression, both VEGFR and EGFR activation
13 induces intratumor kinase pathways, which results
14 in promotion of tumor growth and proliferation. In
15 our study, erlotinib inhibits the oncogenic driver
16 while Cyramza targets the downstream effects
17 inhibiting VEGFR, therefore the dual blockade
18 presents a viable strategy to improve efficacy
19 outcomes.

20 Historically, approvals for non-small cell
21 lung cancer have been based on significant
22 improvement in overall survival, as a median

1 survival was relatively short, less than a year.
2 In scenarios where overall survival is long, FDA has
3 recognized in guidance that a clinically meaningful
4 primary endpoint to assess benefit is
5 progression-free survival. Our reason for this is
6 that assessment of drug effect can be affected by
7 subsequent cancer therapies.

8 In the metastatic EGFR-mutated patient
9 population, overall survival is long and confounded
10 by multiple lines of subsequent therapies. As
11 such, PFS is the best assessment of treatment
12 effect, and PFS has been used as the basis for
13 approval for all current first-line treatments for
14 EGFR non-small cell lung cancer.

15 As shown, FDA approvals for early generation
16 treatments have had median progression-free
17 survival around 10 to 15 months with overall
18 survival around 2 years. We approached the design
19 of the RELAY recognizing the evolution of this
20 disease with new therapies and increase in
21 durations of the overall survival. We continue to
22 see this trend with newer generation treatments

1 showing median PFS up to 19 months and overall
2 survival exceeding 3 years. Additionally, no study
3 has demonstrated statistically significant
4 improvement of overall survival at the time of
5 approval.

6 We sought FDA's advice on RELAY's design in
7 a 2014 meeting and aligned that PFS would support a
8 regulatory application for approval. As the trial
9 was powered to show a large magnitude of
10 improvement in progression-free survival, the
11 sample size limited the power to assess benefit in
12 overall survival.

13 Lilly submitted their protocol to FDA in
14 2014. In June 2019, during the sBLA meeting, FDA
15 informed us that while therapies specifically
16 targeting oncogenic drivers have received regular
17 approval based on clinically meaningful
18 improvements of PFS, ramucirumab didn't
19 specifically target these driver mutations.
20 Additionally, FDA had safety concerns and
21 recommended we wait to submit.

22 Given the high unmet medical need and

1 clinically meaningful benefits observed in the
2 RELAY study, we submitted the application in July.
3 The clinically meaningful benefits are further
4 supported by recent approval in Europe and
5 inclusion in updated NCCN guidelines. The data
6 demonstrate a positive benefit-risk profile for
7 Cyramza as first-line treatment. The combination
8 of erlotinib shows statistically significant and
9 clinically meaningful improvements in
10 progression-free survival with no detriment in
11 overall survival.

12 The magnitude of difference in PFS was
13 7 months. Results were consistent across
14 sensitivity analysis and subgroups. This outcome
15 was also supported by secondary and exploratory
16 analysis. Importantly, the safety profile was well
17 characterized and the toxicity observed was
18 manageable. Having another first-line option would
19 give oncologists a dual targeted therapeutic
20 strategy to treat their patients.

21 With this information in mind, here's the
22 agenda for our presentation. All outside experts

1 have been compensated for their time and travel for
2 today's meeting. Thank you. I'd like to turn the
3 lectern now to Dr. Vokes.

4 **Applicant Presentation - Everett Vokes**

5 DR. VOKES: Thank you.

6 I'm Dr. Everett Vokes, physician and chief
7 at the University of Chicago. I'm a medical
8 oncologist focusing on patients with lung cancer.
9 Metastatic EGFR-mutated non-small cell lung cancer
10 is a serious and life-threatening disease. There's
11 an ongoing need for new targeted approaches to
12 prolonged PFS and optimized patient outcomes.

13 Globally, more than 32 percent of non-small
14 cell lung cancers are EGFR mutation positive, and
15 about 60 percent of patients present with advanced
16 or metastatic disease at diagnosis. Median
17 survival is around 25 months and 5-year survival
18 rates are around 14 percent. Treatment is focused
19 on extending life and delaying disease progression
20 while allowing patients to maintain their quality
21 of life by using chemotherapy later in their
22 overall treatment sequence.

1 The EGFR pathway is a frequent driver in the
2 development and progression of non-small cell lung
3 cancer. Activating EGFR mutations are found in
4 about 10 to 20 percent of Caucasian patients and up
5 to 40 to 60 percent of Asian patients. Regardless
6 of ethnicity, these mutations are commonly found in
7 females, non-smokers, or those who have
8 adenocarcinoma histology. As a result, patients
9 have fewer comorbidities.

10 The most common activating mutations in EGFR
11 are deletions with an exon 19 or a substitution in
12 exon 21. The presence of these activating EGFR
13 mutations in advanced non-small cell lung cancer is
14 associated with sensitivity to small molecule EGFR
15 TKIs. To date, three generations of TKIs have been
16 approved.

17 The first targeted agents were gefitinib and
18 erlotinib, followed by second generation afatinib
19 and dacomitinib, and the most recent generation
20 TKI, osimertinib, was originally approved in 2017
21 as second line specifically to target the dominant
22 resistance mutation T790M. Osimertinib was later

1 approved for first-line treatment regardless of the
2 T790M mutation. All targeted treatments remained
3 current first-line options based on international
4 guidelines and practices. Only monotherapy
5 regimens are currently approved in the United
6 States.

7 Despite the initial benefit of the earlier
8 generation EGFR TKIs, they are associated with
9 inevitable development of treatment resistance, and
10 patients would eventually experience loss of
11 clinical benefit.

12 Osimertinib has demonstrated efficacy and
13 tolerability advantages over early generation TKIs,
14 however, the mechanisms of resistance after
15 first-line osimertinib are heterogeneous and are
16 mostly non-targeted. Thus, patients currently have
17 no options other than chemotherapy-based regimens
18 once they progress on osimertinib. Furthermore,
19 immunotherapy options for this population are
20 rarely successful.

21 Let me present this treatment sequence
22 visually. Our goal is to delay progression and the

1 use of chemotherapy as long as possible. The early
2 generation treatments offer 9 to 15 months of
3 progression-free survival, however, about 50
4 percent of patients will develop a T790M mutation,
5 and since 2017, these patients can receive
6 osimertinib, adding 10 months of PFS before needing
7 chemotherapy. Patients who are T790M negative
8 proceed immediately to chemotherapy.

9 Since 2018, osimertinib can be given to all
10 patients with EGFR-mutated non-small cell lung
11 cancer resulting in a median PFS of 19 months and
12 eliminating T790M as a resistance mechanism. No
13 additional targeted therapy options are available
14 for patients once tumors progress.

15 A combination therapy has the potential to
16 further improve outcomes by offering patients an
17 alternative mechanistic option. In fact, there is
18 preclinical rationale for such a combination based
19 on numerous publications. It's well understood
20 that EGFR-mutant tumors are more VEGF dependent
21 than EGFR wild-type tumors, and dual VEGF EGFR
22 pathway blockade enhances efficacy.

1 EGFR mutations result in constitutive
2 upregulation of VEGF and HIF-1 alpha in EGFR-mutant
3 lung cancers, and EGFR inhibition lowers VEGF
4 levels via HIF-1 alpha, resulting in
5 anti-angiogenic effects. Additionally, acquired
6 EGFR inhibitor resistance is associated with an
7 increase in VEGF.

8 EGFR-mutant tumor cells have higher levels
9 of VEGF than EGFR wild-type cells, and VEGF is
10 markedly reduced in these cells by erlotinib. We
11 know that resistance to EGFR inhibitors is
12 associated with a rise in VEGF levels. This may
13 explain why VEGF inhibition can delay the emergence
14 of EGFR inhibitor resistance. The combination of
15 VEGF and EGFR inhibitors is particularly effective
16 for EGFR-mutant tumors.

17 In summary, there is an ongoing need for
18 additional first-line treatment options that
19 provide clinically meaningful benefits, including
20 delaying disease progression and use of
21 chemotherapy. There's also a need for new
22 mechanistic approaches to further advance the

1 field, as well as offer the potential for improved
2 patient outcomes.

3 Having a choice of therapy is not to be
4 minimized. When patients come to my office, I
5 discuss their options, and they want to have
6 informed choices in their medical health.
7 Expanding the selection of first-line options
8 available would allow oncologists greater strategic
9 choices in shared decision making with our
10 patients. Thank you. I'll now turn the
11 presentation to Dr. Abada.

12 **Applicant Presentation - Paolo Abada**

13 DR. ABADA: Thank you. I'm Paolo Abada,
14 senior medical director and lead for the Cyramza
15 global product development at Eli Lilly, and
16 currently a practicing oncologist. Let me start by
17 describing the RELAY design.

18 The phase 3 portion of the RELAY study was a
19 global, multicenter, randomized,
20 placebo-controlled, double-blind study. 449
21 patients were randomized one-to-one to receive
22 either 10 milligrams per kilogram Cyramza every

1 2 weeks in combination with erlotinib 150
2 milligrams per day or to placebo plus erlotinib.

3 Patients underwent imaging every 6 weeks for
4 72 weeks and every 12 weeks thereafter until
5 disease progression. For patients who discontinued
6 treatment prior to documented progression, tumor
7 assessments were continued until objective evidence
8 of progressive disease, death, or study completion.
9 Following discontinuation of study treatment,
10 patients and investigators remained blinded, and
11 the choice of post-discontinuation therapy was at
12 the discretion of the investigator. Currently, the
13 study is ongoing and blinding will be maintained
14 until the final overall survival analysis.

15 The primary endpoint was progression-free
16 survival. This was defined as the time from the
17 date of randomization until the date of documented
18 radiographic progression or death. The study was
19 powered to show a clinically meaningful improvement
20 of at least 4 and a half months for the
21 combination. Secondary efficacy endpoints are
22 listed here. Overall survival was the only

1 secondary endpoint in the hierarchical scheme of
2 error-controlled endpoints. Other secondary
3 endpoints were evaluated independently of PFS and
4 overall survival.

5 Key inclusion criteria specified enrollment
6 of patients with cytologically or histologically
7 confirmed diagnosis of stage 4 non-small cell lung
8 cancer; patients who were eligible for first-line
9 treatment with erlotinib based on documented
10 evidence of tumor with EGFR exon 19 deletion or
11 exon 21 substitution mutation; and patients with an
12 ECOG performance status of 0 or 1 and adequate
13 organ function.

14 The study specified exclusion of patients
15 with a known T790M EGFR mutation, CNS metastases,
16 clinically active interstitial lung disease, or any
17 prior anticancer therapy for advanced disease.

18 Moving to patient demographics, the enrolled
19 population reflects that expected of an EGFR-mutant
20 non-small cell lung cancer population in clinical
21 practice. Demographics were well balanced between
22 treatment arms. Most patients in either group were

1 female, around 65 years of age, and Asian.

2 Disease characteristics were also well
3 balanced. The majority of patients had primary
4 metastatic disease. Most were non-smokers and had
5 good performance status at baseline. Consistent
6 with eligibility criteria, all patients were EGFR
7 mutation positive and equally divided between exon
8 19 deletion and exon 21 EGFR mutation.

9 449 patients were randomized, which
10 constitutes the intent-to-treat population, 224
11 patients to the Cyramza plus erlotinib and 225 to
12 placebo plus erlotinib. Three patients in the
13 Cyramza plus erlotinib group were not treated, and
14 the safety population consisted of 221 patients for
15 Cyramza plus erlotinib and 225 for placebo plus
16 erlotinib.

17 The primary reasons for treatment
18 discontinuation in either group were progressive
19 disease and adverse events; 64 Cyramza patients and
20 43 placebo patients remained on study treatment at
21 the time of data cutoff in January 2019.

22 Now turning to the primary results, RELAY

1 met its primary endpoint demonstrating a
2 statistically significant and clinically meaningful
3 improvement in progression-free survival compared
4 to an approved standard of care. With a median
5 follow-up time of 20.7 months, the
6 investigator-assessed median progression-free
7 survival was 19.4 months in the Cyramza plus
8 erlotinib arm compared to 12.4 months in the
9 placebo plus erlotinib arm, representing a 7-month
10 longer median PFS for Cyramza.

11 Treatment with Cyramza reduced hazard of
12 disease progression or death by 41 percent with a
13 stratified hazard ratio of 0.591 and a highly
14 significant p-value of less than 0.0001. The
15 Kaplan-Meier curves show an early separation at
16 3 months that was sustained through about 30 months
17 of follow-up.

18 The primary results are supported by
19 multiple and prespecified sensitivity analyses,
20 including an analysis conducted by a blinded
21 independent radiological review committee. All
22 analyses demonstrated a hazard ratio between 0.58

1 and 0.67, consistent with the primary analysis. A
2 consistent PFS benefit was also observed across
3 prespecified subgroups. As you can see, all point
4 estimates fall below a hazard ratio of 1.

5 Moving now to secondary efficacy endpoints,
6 the objective response rate was high and similar
7 between arms. Disease control rate was close to
8 100 percent in both treatments. Thus, it's
9 difficult to appreciate the treatment benefit based
10 on objective response alone. Rather than
11 improvement in response rate, the benefit of this
12 therapy is clearly demonstrated by the more durable
13 response for patients in the Cyramza arm.

14 The median duration of response was
15 significantly longer in the Cyramza arm than in the
16 placebo plus erlotinib arm. The median duration in
17 the Cyramza arm was 18 months versus 11.1 months in
18 the placebo arm. The significant improvement in
19 the duration of response demonstrates the
20 durability of response that Cyramza contributes.

21 We also assessed patient-reported outcomes.
22 Patients rated their symptoms and quality of life

1 utilizing the Lung Cancer Symptom Scale, or LCSS,
2 where lower scores represent fewer symptoms.
3 Patient completion was more than 95 percent in both
4 arms. Consistent with the enrolled patient
5 population, patients reported relatively good
6 overall quality of life and low symptom burden at
7 baseline with summary scores of 22 or less.

8 Hence, we would not anticipate significant
9 improvements in the LCSS. We do observe mean LCSS
10 total scores remained similar between arms over the
11 course of treatment with no significant changes
12 from baseline.

13 Time to deterioration in the LCSS total
14 score and the average symptom burden index did not
15 differ between treatment arms. The time to
16 deterioration by individual components of the LCSS
17 shows no differences by treatment arm for all
18 scores except blood in sputum. The blood in sputum
19 finding is consistent with symptoms associated with
20 non-small cell lung cancer and the known bleeding
21 risk for hemoptysis and epistaxis with VEGF
22 inhibitors.

1 Moving now to the secondary endpoint of
2 overall survival, according to the protocol,
3 interim overall survival was assessed at the time
4 of the primary PFS assessment in January of 2019.
5 Interim overall survival was immature with a
6 censoring rate of more than 80 percent. Fewer
7 deaths were observed in the Cyramza plus erlotinib
8 arm with 37 compared to 42 in the placebo plus
9 erlotinib arm. The stratified hazard ratio for
10 interim overall survival was 0.83.

11 At FDA's request, an additional unplanned
12 interim overall survival analysis was performed
13 using a more recent data cutoff from December 2019.
14 This showed similar results with a hazard ratio of
15 0.92. Some variability in the overall survival
16 hazard ratio is expected as the OS data are
17 maturing with the updated analysis subject to more
18 than 70 percent censoring. Fewer deaths continued
19 to be observed in the Cyramza arm.

20 Median survival is not yet reached, and of
21 note, it's projected to be more than 50 months.
22 For our prespecified statistical analysis plan,

1 Lilly plans to submit final overall survival data
2 when 300 events are reached, which is estimated to
3 be some time in 2023.

4 As we discussed earlier, the development of
5 T790M potentially impacts subsequent therapy. We
6 assessed the frequency of this mutation at the time
7 of progression on study treatment. Circulating
8 tumor DNA was assessed in plasma collected at the
9 time of progression.

10 In patients for whom EGFR activating
11 mutations were detectable in the post-progression
12 sample, the rates of post-progression EGFR T790M
13 mutation were similar between treatment arms, at 43
14 percent in the Cyramza arm and 47 percent in the
15 placebo arm. The addition of Cyramza to erlotinib
16 does not appear to alter the proportion of patients
17 with T790M mutation at the time of progression on
18 first generation TKIs.

19 We also looked at post discontinuation
20 anticancer therapy use. This table shows a summary
21 across all subsequent lines of therapy. The
22 addition of Cyramza did not preclude use of

1 subsequent therapy. More than 75 percent of
2 patients who progressed have received subsequent
3 anticancer treatments, and patients continued to
4 receive additional lines.

5 EGFR TKIs were the most common post
6 discontinuation therapy with around 40 percent of
7 patients receiving osimertinib. Please keep in
8 mind that these percentages may underrepresent
9 current practice, as osimertinib was not globally
10 approved until part way through the study.

11 Chemotherapy was the second most common.
12 Additionally, 15 percent of Cyramza patients and
13 24 percent of placebo patients received a VEGF
14 inhibitor post-progression. The number, types, and
15 potential differences in sequencing of various
16 post-progression anticancer therapies likely
17 confounds and attenuates effects on overall
18 survival.

19 Looking specifically at first subsequent
20 post-discontinuation anticancer therapy, we see
21 that investigators chose to sequence an EGFR TKI
22 first in more than 70 percent of patients, with

1 only about 24 percent of patients receiving
2 chemotherapy first. Due to this finding, we
3 performed a post hoc analysis evaluating time to
4 chemotherapy or death, which revealed a median time
5 of 34 months for patients on Cyramza and erlotinib.

6 In conclusion, results from the RELAY study
7 demonstrated statistically significant, clinically
8 meaningful, and durable benefits in patients who
9 received Cyramza plus erlotinib compared with
10 placebo plus erlotinib. The primary endpoint was
11 met, demonstrating a 7-month improvement in median
12 progression-free survival and reduced the hazard
13 ratio of disease progression or death by 41 percent
14 with Cyramza compared to placebo. This result was
15 consistent across sensitivity analyses and
16 consistent across subgroups.

17 Importantly, improvements in secondary and
18 exploratory endpoints support the meaningful delay
19 in disease progression. This included improvements
20 in PFS2, time to chemotherapy, and a significant
21 improvement in the duration of response with 18
22 months in the Cyramza plus erlotinib arm versus

1 11 months in the placebo plus erlotinib arm, and no
2 evidence of detriment on overall survival. The
3 demonstrated efficacy results reinforce the benefit
4 of targeting the EGFR and VEGF pathways with this
5 treatment regimen.

6 Thank you. I would now like to turn the
7 podium to Dr. Carla Visseren.

8 **Applicant Presentation - Carla Visseren**

9 DR. VISSEREN-GRUL: Thank you, Dr. Abada.

10 I'm Carla Visseren-Grul, the global medical
11 lead for the RELAY study. I'll now review the
12 safety data of RELAY, demonstrating that adverse
13 events were manageable, and the safety profile of
14 Cyramza plus erlotinib was consistent with
15 expectations based on the established safety
16 profile of the individual treatment components.

17 The safety profile of Cyramza is well
18 established. As of September 2019, more than 6,400
19 patients have received Cyramza in the clinical
20 trial program, and more than 125,000 patients have
21 been treated with Cyramza worldwide, across five
22 licensed indications in the postmarketing setting.

1 In RELAY, the median duration of each study
2 treatment was longer among patients in the Cyramza
3 plus erlotinib arm. The median duration of Cyramza
4 therapy was 11 months compared to 9.7 months for
5 placebo. The median relative dose intensities of
6 each study drug was high, comparable between
7 treatment arms and consistent with the targeted
8 dose. The longer duration of study treatments in
9 the Cyramza plus erlotinib arm and similar relative
10 dose intensities of the study drugs between
11 treatment arms attest to the tolerability of the
12 combination regimen.

13 Turning to an overview of the safety
14 profile, all patients reported at least one
15 treatment-emergent adverse event, and this would be
16 expected for combination treatments. The incidence
17 of grade 3 or higher treatment-emergent adverse
18 events and serious adverse events was higher in the
19 Cyramza plus erlotinib arm compared to placebo plus
20 erlotinib. Of note, the grade 3 or higher events
21 were predominantly grade 3 in severity. Despite
22 the increases in these events, the percentage of

1 patients who discontinued off study treatment due
2 to an adverse event was similar between arms.

3 Adverse events leading to death on study
4 treatments or within 30 days of treatment
5 discontinuation were reported in the Cyramza plus
6 erlotinib arm with 6 patients in total. One of
7 these events was assessed to be related to Cyramza
8 by the investigator, and I'll review this case in
9 greater detail shortly.

10 Here are the most commonly reported any
11 grade adverse events occurring in greater than or
12 equal to 20 percent in the Cyramza plus erlotinib
13 ARM. These events are consistent with the known
14 safety profile of these approved agents and what is
15 expected to occur within this disease setting, and
16 for infection we showed a composite term.

17 The majority of the differences in the
18 incidences between arms were observed in grade 1
19 and 2 events with the exception of hypertension. A
20 grade 3 or higher adverse events occurred with a 2
21 percent or higher rate in the Cyramza plus
22 erlotinib arm for hypertension, dermatitis

1 acneiform, and diarrhea. None of these were
2 grade 4 or 5.

3 A higher rate of infection grade 3 or higher
4 was observed with Cyramza plus erlotinib at 17
5 percent compared to 7 percent. The majority of
6 these infections occurred in 1 to 2 patients each.
7 Three events were associated with grade 3 or higher
8 neutropenia. The only grade 3 or higher event
9 occurring at a higher rate in Cyramza plus
10 erlotinib treated patients was pneumonia.

11 A higher percentage of Cyramza plus
12 erlotinib treated patients reported serious adverse
13 events compared to placebo. Most of the serious
14 adverse events occurred in single patients each,
15 and the analysis of serious adverse events did not
16 reveal any notable findings.

17 During study treatment, or within 30 days of
18 treatment discontinuation, 8 deaths occurred in the
19 Cyramza plus erlotinib arm and 2 occurred on
20 placebo plus erlotinib. Two patients in each group
21 died due to study disease. Six patients on Cyramza
22 plus erlotinib died due to adverse events. No

1 additional deaths due to adverse events have been
2 reported based on the unplanned data cutoff for
3 overall survival re requested by the FDA from
4 December 2019, which includes an additional
5 8.4 months of patient follow-up.

6 Of the 6 events just noted, one event of
7 hemothorax was assessed by the investigator as
8 related to study treatments. The event began on
9 day 74, 28 days after the last dose of Cyramza and
10 5 days following insertion of thoracic drainage for
11 pleural empyema. The remaining 5 events were
12 assessed as not related to Cyramza or erlotinib by
13 the investigator. Three of these deaths occurred
14 several months after discontinuation of Cyramza
15 treatments and while on erlotinib.

16 Moving to discontinuations, the overall
17 incidence of adverse events leading to
18 discontinuation of all study treatments were
19 similar between treatment arms, demonstrating that
20 the adverse event profile is manageable, allowing
21 the majority of patients to remain on study
22 treatments. Few adverse events leading to

1 discontinuation of all study treatments were
2 reported in more than one patient with no
3 predominant adverse event identified leading to
4 discontinuation of Cyramza plus erlotinib.

5 Here are the adverse events leading to
6 discontinuation of one study treatment, Cyramza or
7 placebo, while patients continued erlotinib
8 treatments. The most common adverse events leading
9 to discontinuation of Cyramza were predominantly
10 low grade, grade 1 or 2 events. Events leading to
11 discontinuations with at least a 2 percent
12 difference were proteinuria, platelet count
13 decreased, and neutropenia.

14 Moving on to adverse events of special
15 interest, adverse events of special interest are
16 prespecified, selected adverse events of clinical
17 interests that have been associated with other
18 antiangiogenic agents in a similar pharmacological
19 class of Cyramza that inhibits the VEGF signaling
20 pathway. The combination treatment resulted in an
21 overall higher rate of adverse events of special
22 interest, but the majority of events were of low

1 grade severity and manageable.

2 Grade 3 or higher events are shown in darker
3 blue for Cyramza plus erlotinib and darker gray for
4 placebo and erlotinib. Hepatic events were the
5 most predominant in both arms. The high rate of
6 hepatic events in the Cyramza-erlotinib arm was due
7 to lab abnormalities of grade 1 and 2 ALT and AST
8 increases. Bleeding or hemorrhage events were
9 primarily driven by low grade epistaxis.

10 Let's discuss how these adverse events
11 commonly associated with a class of VEGF pathway
12 inhibitors were managed. Hypertension is easily
13 detected through routine monitoring and managed
14 with antihypertensive therapy and dose adjustments.
15 Of the patients who had an event of hypertension,
16 no grade 4 or 5 adverse events occurred and
17 2 patients experienced a serious adverse event.

18 Eighty-seven percent of patients experienced
19 a single event with no study treatment change and
20 13 percent required Cyramza dose adjustments, which
21 were mainly dose delays. Antihypertensive therapy
22 and dose adjustments were effective with only one

1 patient discontinuing Cyramza alone, and overall,
2 hypertension did not impact the patient's ability
3 to continue treatments.

4 Adverse events of bleeding or hemorrhage
5 were tolerable and manageable with dose adjustments
6 and pharmacological therapy. Of the patients with
7 bleeding or hemorrhage, 7 experienced a series of
8 adverse events; 90 percent did not require change
9 in study treatments; 9 percent were managed with
10 dose adjustments, which included delays or
11 omissions; and one patient had a blood transfusion.
12 Importantly, management strategies were effective
13 and didn't impact the patient's ability to continue
14 study treatments, as only one patient require
15 discontinuation from all study treatments and
16 4 patients discontinued Cyramza alone.

17 Proteinuria is easily detected through
18 routine monitoring and managed with dose
19 adjustments. The majority of the events that
20 occurred were grade 1 or 2. One patient
21 experienced serious adverse events. Sixty-two
22 percent of patients who experienced proteinuria did

1 not require change in treatments. Cyramza dose
2 adjustments involving dose delays, reductions, or
3 omissions occurred in about 20 percent each. Dose
4 adjustments allowed most patients to continue on
5 therapy with two patients requiring discontinuation
6 of all study treatments and 90 patients
7 discontinuing Cyramza alone.

8 In conclusion, the safety profile of Cyramza
9 is well characterized based on extensive
10 postmarketing experience over almost 6 years across
11 5 approved indications. The safety profile in
12 RELAY was consistent with the safety profile of the
13 individual treatment components or events in the
14 disease setting. Importantly, adverse events were
15 manageable.

16 Overall, the combination of Cyramza plus
17 erlotinib was well tolerated, as it's supported by
18 the longer duration of study treatments in the
19 Cyramza plus erlotinib arm and the high median
20 relative dose intensities of each study drug.
21 Cyramza plus erlotinib resulted in greater toxicity
22 as compared to erlotinib alone. The additional

1 toxicities were easily detected through routine
2 monitoring and managed through dose adjustments and
3 supportive care.

4 Thank you. I'd now like to invite
5 Dr. Heymach to the podium to share his clinical
6 perspective.

7 **Applicant Presentation - John Heymach**

8 DR. HEYMACH: Thank you. I'm Dr. John
9 Heymach. I'm the chair of thoracic and head and
10 neck medical oncology at MD Anderson Cancer Center.
11 I've been treating patients with lung cancer for
12 20 years, and during that time I've been involved
13 in clinical trials of VEGFR and EGFR inhibitors,
14 and my laboratory focuses specifically on
15 mechanisms of resistance to these drugs.

16 The potential efficacy of blocking these two
17 pathways has been reported in the literature for
18 more than a decade, and having devoted a large part
19 of my career to researching this dual blockade
20 treatment strategy, it's truly gratifying to see
21 results from RELAY that are fully consistent with
22 these earlier reports.

1 These results are both exciting for the
2 future of the field and for our patients, and as my
3 colleagues noted, the treatment of EGFR-mutant
4 non-small cell lung cancer remains a major
5 challenge. There's been clear progress, but
6 resistance inevitably develops to EGFR inhibitors,
7 leaving patients with few options beyond
8 chemotherapy based regimens.

9 The Cyramza-erlotinib combination offers a
10 new mechanistic approach that fills this important
11 unmet need. The combination expands first-line
12 options for patients. The addition of new options
13 is increasingly important in the medical community
14 and is exemplified by the updated NCCN guidelines,
15 which now recommend this combination as well as the
16 EMA approval based on the data from RELAY.

17 This combination also enables patients to
18 receive additional EGFR TKIs, particularly
19 osimertinib as second-line therapy, potentially
20 delaying the time to chemotherapy-based regimens
21 and the use of PD-1 or PD-L1 one inhibitors.

22 Now, let me return to our treatment

1 landscape presented earlier by Dr. Vokes and show
2 where the Cyramza-erlotinib combination may fit in
3 as a first-line option. The dual targeted strategy
4 gives us an option to start with the highly
5 effective targeted regimen, and for the roughly 50
6 percent of patients who develop T790M resistance,
7 osimertinib would remain a treatment option.

8 Therefore, this approach would maximize the
9 time on EGFR-targeted therapies and potentially
10 delay the time to platinum doublet chemotherapy,
11 which not only carries substantial toxicity but is
12 relatively ineffective in this population with the
13 median PFS of 4 to 5 months.

14 In fact, in the RELAY trial, 74 percent of
15 patients went on to EGFR TKIs and only 23 percent
16 of patients went on to chemotherapy as the first
17 subsequent therapy. By contrast, there are no
18 targeted agents approved for patients who progress
19 on osimertinib; therefore, it's unsurprising that
20 in the FLAURA study, 68 percent of patients who
21 progressed went on to chemotherapy as their first
22 subsequent therapy.

1 Perhaps most impressive to me is the
2 magnitude of the Cyramza plus erlotinib effect on
3 PFS. As noted earlier, the study was designed to
4 show at least a 4.5 month difference in PFS, a
5 difference deemed clinically meaningful based on
6 the ASCO guidelines and prior clinical results in
7 this space. These RELAY results far exceeded these
8 expectations with the 7-month difference in PFS.

9 Now, to put these findings into context,
10 here's a figure showing the difference observed in
11 median PFS for RELAY and the prior approved
12 first-line treatments in this indication.

13 As a reminder, PFS was the basis for
14 approval for all of these prior treatments. The
15 Cyramza-erlotinib data show a magnitude of effect
16 in PFS in line or above its predecessors while
17 being compared to a proven standard of care,
18 erlotinib. Additionally, the use of the
19 combination did not preclude the subsequent use of
20 osimertinib.

21 Cyramza in combination with erlotinib
22 demonstrated statistically and, more importantly,

1 clinically significant improvements in PFS. Again,
2 I stress that the magnitude of difference in PFS
3 between Cyramza and placebo is particularly
4 meaningful in this setting.

5 The primary endpoint of PFS is supported by
6 the duration of response, which further
7 demonstrates meaningful improvement in patient
8 outcomes, and no detriment was observed in overall
9 survival analyses. The RELAY study was well
10 conducted and far exceeded the benefit it was
11 designed to show.

12 Now, if overall survival becomes the
13 standard for approval in disease settings with long
14 survival as we observe here, we'll have to change
15 how the trials are designed and enrollment will be
16 challenging, as sample sizes will have to be
17 greatly increased, and we'll be asking patients to
18 wait unreasonable durations for drug approvals.

19 When patients come into my office, they want
20 to know their disease is not progressing and
21 they're comforted by knowing they can maintain
22 response over time while potentially retaining

1 targeted therapy options in the future and delaying
2 the time until chemotherapy may be needed.

3 Now, turning our attention to safety, the
4 safety profile was consistent with the known safety
5 profiles of each of the individual treatments and
6 the underlying disease. While Cyramza plus
7 erlotinib resulted in more toxicity than erlotinib
8 alone, the adverse events were manageable with dose
9 adjustments and routine supportive care. Also,
10 patients on Cyramza were able to receive subsequent
11 targeted therapy, including osimertinib
12 post-progression.

13 To conclude, the Cyramza-erlotinib
14 combination demonstrated a positive benefit-risk
15 profile. There's a strong scientific rationale for
16 the combination of a VEGF and EGFR inhibitor, and
17 data from the RELAY study support this
18 understanding. Cyramza plus erlotinib demonstrated
19 statistically significant and clinically meaningful
20 improvement in PFS. The safety profile is as
21 expected, well understood, and manageable.

22 So why approve this combination now? Why

1 not wait until the final OS data is reported in
2 three years? Well, in agreement with the statement
3 from the FDA's briefing book, "First-line treatment
4 of patients with EGFR-positive non-small cell lung
5 cancer remains an unmet need," in this context, an
6 additional three years is too long for patients to
7 wait.

8 Expanding this selection of effective
9 first-line options provides oncologists a
10 dual-targeted therapeutic strategy to treat our
11 patients, provides patients with more choices, and
12 may delay the time to chemotherapy-based regimens,
13 which provide limited benefit for patients with
14 EGFR mutations. More so, the data from RELAY
15 support a positive benefit-risk for the
16 combination.

17 It would be unfortunate to deny approval of
18 this new targeted therapeutic strategy to give
19 patients an effective alternative first-line
20 treatment. Thank you.

21 DR. HOFFMAN: Okay. We'll now proceed with
22 the presentation from the FDA.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FDA Presentation - Barbara Scepura

MS. SCEPURA: Good afternoon. My name is Barbara Scepura, and I am a nurse practitioner and a clinical reviewer for the supplemental biologics licensing application 125477, for ramucirumab plus erlotinib, submitted by Eli Lilly, referred to as the applicant for the remainder of the presentation.

These are the names of the members of the FDA team for this application, and my presentation reflects their collective input. The FDA review issue for this application is as follows: Is the benefit-risk profile of ramucirumab plus erlotinib favorable for patients with untreated, metastatic, EGFR-positive non-small cell lung cancer?

The RELAY study shows an improvement in progression-free survival, however, there is uncertainty regarding the potential impact on overall survival, and there is increased toxicity associated with the addition of ramucirumab to erlotinib. During my presentation, I will begin with a brief overview of the results of the RELAY

1 study; I will then present the review issues that
2 serve as the basis for referring this application
3 to the advisory committee; and finally, I will
4 provide a summary followed by the discussion topic
5 and question for the committee.

6 Before we review the study design, here is a
7 brief description of the regulatory history. In
8 November 2014, a meeting was held between the
9 applicant and FDA to discuss the design of the
10 RELAY study.

11 During this meeting, FDA informed the
12 applicant that, in general, a clinically meaningful
13 and statistically persuasive improvement in
14 progression-free survival, with a favorable
15 benefit-risk profile and consistent effects in key
16 secondary endpoints, including no evidence of a
17 decrement in overall survival, would support a
18 regulatory application for marketing.

19 In December 2014, the applicant submitted
20 the RELAY study protocol. There were no further
21 interactions between FDA and the applicant related
22 to this study until June 2019, when a meeting was

1 held to discuss top-line results of the RELAY
2 study. FDA recommended that the applicant wait for
3 the mature prespecified analysis of overall
4 survival in the RELAY study before filing an
5 application for the proposed indication, and stated
6 that if filed before mature survival data is
7 available, FDA may seek the advice of the advisory
8 committee. The supplemental BLA was submitted on
9 July 29, 2019.

10 In the next few slides, I will provide a
11 brief overview of the RELAY study. As a reminder,
12 the RELAY study provides the primary evidence to
13 support the evaluation of safety and efficacy for
14 this application. The design of RELAY is shown
15 here.

16 RELAY is a randomized, placebo-controlled,
17 blinded study to evaluate the benefit of adding
18 ramucirumab to erlotinib compared to single-agent
19 erlotinib in patients with previously untreated
20 metastatic EGFR mutation-positive non-small cell
21 lung cancer. The primary endpoint of the RELAY
22 study was investigator-assessed, progression-free

1 survival. The study had 80 percent power to detect
2 a hazard ratio of 0.71 with 270 progression-free
3 survival events.

4 The key secondary endpoint of RELAY was
5 overall survival. The final analysis of overall
6 survival was planned to occur after 300 deaths are
7 observed, but the statistical analysis plan did not
8 prespecify effect size assumptions or power for the
9 overall survival analysis.

10 FDA agrees with the applicant's presentation
11 of the final analysis of the primary endpoint of
12 investigator-assessed progression-free survival.
13 The RELAY study demonstrated a statistically
14 significant improvement in progression-free
15 survival per investigator assessment for patients
16 in the ramucirumab plus erlotinib arm compared to
17 the placebo plus erlotinib arm. This difference in
18 median progression-free survival as assessed by
19 investigator was 7 months with a hazard ratio of
20 0.59.

21 This is the plot of the Kaplan-Meier
22 estimates of progression-free survival. Presented

1 here are the results for progression-free survival
2 as assessed by blinded, independent central review.
3 These results are relevant given the possibility of
4 unintentional loss of blinding due to differential
5 toxicity between treatment arms.

6 The difference in median progression-free
7 survival as assessed by blinded independent review
8 was 5.4 months with a hazard ratio of 0.67. The
9 concordance and assessment of progressive disease
10 between investigator and blinded independent
11 central review was 79 percent, which is considered
12 an acceptable range for oncology studies.

13 At the time of final progression-free
14 survival analysis, a prespecified interim analysis
15 of overall survival was performed. Seventy-nine
16 deaths had occurred and the median follow-up was
17 20.7 months. Median overall survival had not been
18 reached in either arm. The hazard ratio was 0.83
19 with a 95 percent confidence interval of 0.53 to
20 1.30.

21 Due to the immaturity of the overall
22 survival data provided in the submission, FDA

1 requested updated survival data. The updated data
2 cutoff provided an additional 8.4 months of median
3 follow-up time. A total of 125 deaths had
4 occurred, corresponding to 42 percent of the
5 information needed for the final analysis. The
6 median survival was not reached in either arm and
7 the hazard ratio was 0.92 with a 95 percent
8 confidence interval of 0.65 to 1.32.

9 This is the plot of the Kaplan-Meier
10 estimates of overall survival from the December 31,
11 2019 cutoff. FDA agrees with the applicant's
12 presentation of overall response rate. The overall
13 response rate was similar between study arms. The
14 median duration of response was 7 months longer for
15 patients treated with the combination of
16 ramucirumab plus erlotinib compared to those
17 treated with placebo plus erlotinib.

18 Progression-free survival 2, or PFS2, was an
19 exploratory endpoint in RELAY. It is defined as
20 the time from randomization to second disease
21 progression. Issues such as measurement bias due
22 to inconsistent post-progression scan schedules and

1 confounding by post-progression therapies make
2 estimation of the treatment effect on PFS2
3 difficult, therefore, FDA does not use PFS2 as the
4 basis for regulatory decisions.

5 Two additional exploratory endpoints in
6 RELAY were patient-reported outcome measures.
7 Specifically, the Lung Cancer Symptom Scale and
8 EQ-5D-5L were collected. The quality of the data
9 collection was high with good completion rates,
10 however, the trial was not designed to make
11 comparative claims, and there was no prespecified
12 statistical analysis plan controlling for type 1
13 error for PRO endpoints.

14 The applicant claims that the
15 patient-reported data suggested overall quality of
16 life and average symptom burden were not negatively
17 impacted by the addition of ramucirumab to
18 erlotinib compared to placebo plus erlotinib. The
19 FDA disagrees with the applicant's position for the
20 following reasons.

21 First, the hazard ratios generally do not
22 favor the ramucirumab plus erlotinib arm. Second,

1 the patient-reported symptoms corroborate with
2 clinician-reported adverse events. Lastly, the
3 Lung Cancer Symptom Scale measures symptoms and
4 quality of life but does not allow for a direct
5 assessment of the tolerability of therapy.

6 The combination of ramucirumab plus
7 erlotinib resulted in increased toxicity compared
8 to placebo plus erlotinib, including an increased
9 incidence of grade 3 or higher adverse events and
10 serious adverse events. Specific adverse events of
11 interest occurring at a higher incidence on the
12 ramucirumab plus erlotinib arm included
13 hypertension, bleeding, proteinuria, and severe
14 infections.

15 These will be discussed in more detail later
16 in the presentation. There were 6 deaths due to
17 adverse events on the ramucirumab plus erlotinib
18 arm, with two considered possibly related to
19 treatment with the combination.

20 I will now present the FDA review issues
21 starting with the historical context of drug
22 approvals for the first-line treatment of

1 metastatic EGFR- positive non-small cell lung
2 cancer.

3 Over the last decade, approvals for
4 first-line treatments for metastatic non-small cell
5 lung cancer therapies, not targeting oncogenic
6 driver mutations, have been based on demonstration
7 of an improvement in overall survival. During this
8 time period, there was a shift in the approach to
9 treatment of non-small cell lung cancer focused on
10 the development of therapies targeting specific
11 genomic aberrations present in cancer cells such as
12 EGFR mutations.

13 Approvals of first-line treatments for
14 metastatic non-small cell lung cancer therapies
15 that specifically target oncogenic driver mutations
16 have been based on the demonstration of an
17 improvement in progression-free survival. In
18 studies investigating earlier generation EGFR TKIs,
19 where the magnitude of overall response rate with
20 EGFR TKIs was high compared to chemotherapy, the
21 majority of patients in the chemotherapy arm
22 received an EGFR TKI following disease progression.

1 The high rate of EGFR TKI used
2 post-progression confounded assessments of overall
3 survival. The RELAY study enrolled patients with
4 non-small cell lung cancer with an oncogenic driver
5 mutation, and all patients received erlotinib.
6 Ramucirumab is the experimental agent in the study.
7 Ramucirumab is a VEGF-R2 inhibitor that does not
8 target an oncogenic driver mutation.

9 These are the agents currently approved
10 specifically for the first-line treatment of
11 metastatic EGFR mutation-positive non-small cell
12 lung cancer. All are EGFR tyrosine kinase
13 inhibitors. As previously stated, approvals of
14 these targeted agents have been based on
15 improvements in progression-free survival.

16 In trials of earlier generation EGFR TKIs,
17 shown at the top of the table in white, the
18 majority of patients who were randomized to
19 chemotherapy and experienced disease progression
20 subsequently received treatment with an EGFR TKI,
21 confounding the analysis of overall survival in
22 these trials.

1 In most recent studies of third generation
2 EGFR TKIs that used a comparator of first
3 generation EGFR TKI, shown at the bottom of the
4 table in gray, improvements in overall survival
5 have been observed. Potential confounding of
6 overall survival due to subsequent therapy is not
7 an issue for the RELAY study, as only 4 percent of
8 patients in the control arm received ramucirumab as
9 post-discontinuation anticancer therapy at any
10 time.

11 As a reminder of the results presented
12 earlier, the left side of the table shows overall
13 survival results from the RELAY study at the time
14 of primary progression-free survival analysis, and
15 updated overall survival results are presented on
16 the right. With an information fraction of 42
17 percent, the updated analysis of overall survival
18 shows a hazard ratio of 0.92 with a 95 percent
19 confidence interval of 0.65 to 1.32.

20 Given the results at the updated data cutoff
21 were still immature and the confidence interval of
22 the hazard ratio is wide, the treatment effect on

1 overall survival is unclear given observed data.
2 Additional follow-up is the only reliable way to
3 assess effect on overall survival.

4 I will now present the toxicity data. In
5 the RELAY study, there was an almost 20 percent
6 higher incidence of grade 3 or higher adverse
7 events and an increased incidence of serious
8 adverse events in the ramucirumab plus erlotinib
9 arm compared to placebo plus ramucirumab.

10 There were more deaths due to adverse events
11 on study or within 30 days of treatment
12 discontinuation in the ramucirumab plus erlotinib
13 arm, 6 compared to none in the placebo plus
14 erlotinib arm. Of these deaths, FDA considers two
15 to be possibly related to treatment with
16 ramucirumab plus erlotinib, one death due to
17 hemothorax and one due to encephalitis influenza.

18 These are the adverse events selected by FDA
19 due to notable increase in incidence of events on
20 the ramucirumab plus erlotinib arm. Eighteen
21 percent of patients treated with ramucirumab plus
22 erlotinib experienced grade 3 or higher infections

1 compared to 7 percent of patients treated with
2 placebo plus erlotinib. Twice as many patients
3 treated with ramucirumab plus erlotinib had
4 all-grade bleeding or hemorrhage events with an
5 incidence of more than 50 percent in the
6 ramucirumab plus erlotinib arm.

7 Most of the bleeding events were low grade
8 with only 4 patients treated with the ramucirumab
9 plus erlotinib experiencing grade 3 or higher
10 bleeding events, however, one of these 4 events
11 resulted in death due to hemothorax.

12 There were no grade 3 or higher bleeding
13 events on the placebo plus erlotinib arm. There
14 was nearly 5 times more grade 3 hypertension on
15 ramucirumab plus erlotinib compared to placebo plus
16 erlotinib. Grade 3 hypertension by CTCAE
17 definition is systolic blood pressure of 160 or
18 higher and diastolic blood pressure of 100 or
19 higher, and requires more than one drug or more
20 intensive therapy than previously used.

21 Of the patients treated with ramucirumab
22 plus erlotinib, 22 percent required 3 or more

1 antihypertensives compared with only 2 percent of
2 patients on the placebo plus erlotinib arm.
3 All-grade proteinuria occurred at 4 times higher
4 incidence on the ramucirumab plus erlotinib arm as
5 compared to placebo plus erlotinib.

6 The incidence of any grade
7 treatment-emergent laboratory abnormalities was
8 higher for patients treated with ramucirumab plus
9 erlotinib as compared to placebo plus erlotinib,
10 but the incidence of grade 3 or higher incidence
11 laboratory abnormalities was similar between study
12 arms.

13 I will now summarize the review issues
14 related to the first-line treatment of metastatic
15 EGFR mutation-positive non-small cell lung cancer
16 with ramucirumab plus erlotinib. The RELAY study
17 demonstrated an improvement in median
18 progression-free survival for patients treated with
19 the combination of ramucirumab plus erlotinib as
20 compared to patients treated with placebo plus
21 erlotinib, however, there are uncertainties
22 regarding the effect on overall survival.

1 There is increased toxicity with the
2 addition of ramucirumab to erlotinib. Of
3 particular concern are the increased incidences of
4 grade 3 or higher adverse events, serious adverse
5 events, severe infections, and hypertension. Given
6 the upper limit of the confidence interval of 1.3,
7 the overall survival results suggest a possibility
8 of a detrimental effect on survival for patients
9 treated with the combination of ramucirumab plus
10 erlotinib. In the context of an add-on therapy
11 associated with increased toxicity, FDA considers
12 this a safety concern.

13 While the first-line treatment of patients
14 with metastatic EGFR-positive non-small cell lung
15 cancer remains an unmet medical need, there are
16 therapies currently approved for which an overall
17 survival improvement has been observed when
18 compared to first-generation EGFR TKI.

19 I will now present the discussion topic and
20 question for the advisory committee. Please
21 discuss whether the results of the RELAY trial,
22 with a demonstrated improvement in progression-free

1 survival, support a positive benefit-risk
2 assessment given the uncertain effect on overall
3 survival and increased toxicity with combination
4 therapy.

5 The voting question is as follows. Is the
6 benefit-risk profile of ramucirumab plus erlotinib
7 favorable for patients with untreated metastatic
8 EGFR-positive non-small cell lung cancer? This
9 concludes the FDA's presentation. Thank you for
10 your attention.

11 **Clarifying Questions to Presenters**

12 DR. HOFFMAN: Thank you. We'll now take
13 clarifying questions for the presenters, and please
14 remember to state your name for the record before
15 you speak. If you can, please direct your
16 questions to a specific presenter.

17 Dr. Cristofanilli?

18 DR. CRISTOFANILLI: Yes. I have a question
19 for the sponsor. You have a lot of data you
20 showed, data that is certainly positive. I'm still
21 puzzled trying to understand why your overall
22 survival outside the toxicity is not improved. You

1 showed that progression-free survival has a
2 significant improvement and that the
3 progression-free survival 2 has improved.

4 This is not associated with an improved
5 response but with a delay of progression, and it
6 seems like the rate of T790M mutations is the same.
7 So obviously I think about the possibility that you
8 are selecting a more aggressive disease that is
9 outside this particular mutation.

10 Are you looking at cell-free DNA in order to
11 understand the disease at first progression, second
12 progression, and if this is not due to toxicity but
13 other reasons that compromise the additional
14 response to other treatments? Have you looked at
15 overall lung specific overall survival?

16 If you have 6 deaths to the combination,
17 this may be a confounding factor, so you don't know
18 if the treatment has modified the natural history
19 of the disease or deaths are simply due to the
20 combination of specific lung progression versus
21 toxicity.

22 I think we need to try to understand, before

1 we can say that this progression-free survival is
2 meaningful and that you can propose this as
3 alternative first-line therapy, in particular where
4 the toxicity is similar, in some respect, to
5 chemotherapy.

6 DR. MELEMED: That was a lot of questions,
7 so I'm going to try hit a couple of them first. I
8 first would like to have Dr. Abada discuss where
9 you mentioned progression-free survival 2. That
10 was an endpoint that EMA recommended that we add to
11 the trial, as they knew survival was long, and this
12 was an endpoint in between survival to get an
13 intermediate to see if you had maybe a worsening
14 post-progression of effect to see; and then I want
15 Dr. Abada to discuss overall survival. I'll get
16 those two questions first.

17 Dr. Abada?

18 DR. ABADA: Paolo Abada, clinical
19 development. Within the briefing book, we did have
20 the PFS2, and that's shown here. As Dr. Melemed
21 mentioned, this assesses the second
22 progression-free survival event following the first

1 progression, so it's sort of an intermediate
2 endpoint between progression-free survival and
3 overall survival. We do see in this analysis that
4 the benefit of adding erlotinib appears to be
5 maintained into at least the second progression
6 based on this analysis.

7 Now, moving on to overall survival, that
8 analysis has also been shared. Currently, this is
9 a very, still, immature analysis with still only
10 around 30 percent of events. With this, we see a
11 hazard ratio that still is on the side of favoring
12 at 0.92, but still no clear difference between the
13 arms. But I would also add, though, that in this
14 setting, post-progression treatments are very
15 different than what you may have seen with
16 osimertinib, whereas, as has been mentioned earlier
17 by Dr. Heymach, the majority of patients post-osi
18 got chemotherapy, and whereas here we see a high
19 proportion of patients getting additional TKIs.

20 So there's a higher risk here of seeing
21 potential confounding, both from the number of
22 additional therapies as well as, again, the very

1 long overall survivals that we're seeing here in
2 excess of approaching 4 years.

3 DR. MELEMED: Can I ask Dr. Heymach to
4 address your last question, which was modes of
5 resistance from that aspect?

6 DR. HEYMACH: To address the first part, for
7 example, is there an acceleration of the disease
8 after progression that's a differential between the
9 two? You could imagine a couple of reasons why OS
10 may not be significant given the PFS difference.
11 First of all, the study wasn't powered for that.
12 The data is very immature. Only a third of
13 patients have been seen, and the survival here is
14 getting longer and longer, which is a good thing
15 for the field.

16 In the FLAURA study, for example, the
17 overall survival is 38.6 months. Back with the
18 IPASS study, it was 18.6 months. Now we're over 50
19 months, so that means the results will be more and
20 more confounded by subsequent therapies over time.
21 So two possibilities; one is that it's chance. A
22 second possibility is a shortening of

1 post-progression survival.

2 If we could put up the PFS2 data here, as
3 Dr. Abada mentioned, there's no evidence that PFS2
4 is shortening. So if we thought that subsequently
5 you had more aggressive disease, we would expect
6 the difference to disappear here.

7 Now, if I could go to core side 42, a third
8 possibility is that there is crossover to VEGF
9 inhibitors that's confounding it in the control
10 arm. If you look here, there actually is an
11 imbalance. If you look at VEGF therapies in the
12 placebo arm, 24 percent go on to receive VEGF
13 therapies. In the Cyramza arm, only 15 percent do.
14 So that's a 9 percent difference in those that
15 received the VEGF therapies.

16 Among those, I think the data, while we
17 can't know for sure, does not support an
18 acceleration of the disease and does point more
19 towards additional VEGF therapy in the control arm.

20 Let me just use the opportunity to mention
21 one other thing. The idea was raised that this
22 isn't a targeted therapy for EGFR-mutant disease.

1 We know that therapies can have benefit even if
2 they're not directly targeting the mutation in
3 defined populations.

4 For example, PARP inhibitors aren't
5 targeting PARP mutants; they're targeting BRCA
6 mutant patients often, patients who have a defect
7 in homologous repair and you're targeting a
8 downstream pathway. VEGF inhibitors are effective
9 in kidney cancer that have this constituent of
10 upregulation of the HIF-1 pathway through
11 VHL mutations.

12 Here, we know that EGFRs mutants have
13 constitutive upregulation of the HIF pathway, so in
14 that sense they're renal cell-like with this
15 constitutive upregulation, and we believe that's
16 why this mechanistically is a targeted therapy,
17 particularly for this subgroup. I'll mention here
18 that this subgroup analysis from earlier
19 studies -- and I'm happy to discuss in more detail
20 to support -- this group is particularly sensitive
21 to VEGF inhibitors compared to other subgroups of
22 lung cancer patients.

1 DR. HOFFMAN: Dr. Hindrichs?

2 DR. HINDRICHS: I would like to ask a
3 question from Dr. Heymach regarding CO-64. Can you
4 put up that slide, please?

5 To me, in many ways, this is kind of the
6 crux of the question because we're looking at how
7 this combination therapy might add a useful
8 treatment option to our toolbox that we have for
9 patients. Given that there's already osimertinib
10 available and it has a better toxicity profile, it
11 leads one to think about what is the role, then,
12 for this combination, and what is the intended
13 niche for this. As far as I could tell from the
14 presentations from the applicant, this looks like
15 the explanation for that.

16 To add to that, my question then would be,
17 for this slide, how much of this slide is a
18 representation of clinical data and how much it of
19 it is made up to illustrate what you hope is going
20 to happen?

21 DR. HEYMACH: Well, thank you for that
22 question. First, if I could have the pie chart

1 with osimertinib resistance. This is data now
2 taken from several studies that have been
3 published, and I'll mention in addition to the pie
4 chart that's shown here, our group, MGH, a study
5 led by Zosia Piotrowska and Geoff Oxnard at
6 Dana-Farber, as well as the Memorial Sloan
7 Kettering group, have all published similar
8 results. So there's at least 4 independent studies
9 that all show the same thing.

10 Because osimertinib targets T790M, the
11 mechanisms become largely EGFR independent. If you
12 look here in the pie chart, you see a variety of
13 EGFR mutations, that light blue 6 to 10 percent.
14 This includes the 718Q, the 797 mutations, and the
15 724. We don't have any approved agents for any of
16 these. The S768I is the only one that has afatinib
17 approved for that 1 percent.

18 We don't have any approved agents for MET
19 amplification to this subgroup, and we don't have
20 any approved agents in this setting for any of the
21 other alterations. So there are no approved
22 targeted therapies for osimertinib resistance

1 mechanisms.

2 This is actual data from the FLAURA study.
3 The expected impact then would be -- unlike a
4 treatment with the first generation where you
5 typically get a T790M in 50 to 60 percent, here you
6 don't have another EGFR TKI to go to.

7 If I could go to CC-25, please? Here is the
8 actual data from the FLAURA, the New England
9 Journal of Medicine publication, and they looked at
10 the first subsequent therapy. Here, the first
11 subsequent therapy was chemotherapy in 68 percent
12 of the cases. In the comparator EGFR TKI arm, it
13 was an EGFR TKI in 74 percent of cases. You look
14 at the Cyramza and erlotinib arm, it is
15 superimposable at 74 percent.

16 So this tells you that real data, patients
17 who start with osimertinib can go on to additional
18 EGFR TKIs. Now, in the case of FLAURA, it was a
19 less effective regimen. Obviously, with Cyramza
20 plus erlotinib, that's a more effective regimen.
21 Only 23 percent went on to chemotherapy.

22 Finally, if we could have the time to

1 chemotherapy curve? Let me just show the last
2 data, and this was mentioned by Dr. Abada, but we
3 didn't show this data. This is the actual data
4 about time to chemotherapy in this study or death
5 between the two arms. You can see there's a
6 significant difference in the time to chemotherapy.
7 Now, this is an exploratory analysis post hoc, but
8 this is just addressing this specific question.

9 This is real data saying it isn't
10 theoretical. Patients who start with osimertinib,
11 the majority go on -- 68 percent go to chemotherapy
12 next. The patients who start with erlotinib plus
13 Cyramza, the vast majority go on to EGFR TKI next.
14 The time to chemotherapy is delayed in this study
15 as well.

16 DR. HINRICHS: I just have a follow-up
17 question then, because you've presented data to
18 support what the sequence of drugs is, but really
19 what's not important -- what's less important is
20 the sequence and what's more important is the
21 overall time until you get to chemotherapy.

22 DR. HEYMACH: Right.

1 DR. HINRICHS: Do you have any data to
2 support the graph that you showed in CO-64?

3 DR. HEYMACH: Well, if we could back to the
4 previous one here, this is the actual time to
5 chemotherapy. Also, if we can show the two
6 Kaplan-Meier plots from patients treated with
7 osimertinib?

8 DR. MELEMED: That would be SU-7.

9 DR. HEYMACH: Again, at the EMA, they
10 collected PFS2 data, which really is helpful for us
11 understanding the impact of subsequent therapies.

12 This is, I think, the best one to address
13 your question. This is the overall survival for
14 patients who received osimertinib as their next
15 subsequent therapy, and this addresses the earlier
16 questions as well. Patients with Cyramza plus
17 erlotinib who then went on to osimertinib had
18 longer benefit than those who didn't go on to
19 osimertinib.

20 Now, if you look over at the placebo arm,
21 you see that the patients who went on to
22 osimertinib still trended toward better than those

1 who didn't go on to osimertinib, but actually those
2 under the Cyramza arm certainly didn't do worse as
3 a result. There are no signs of acceleration. If
4 anything, it actually looks more favorable in that.
5 This is real data about patients who then went on
6 to osimertinib, and this shows you that their
7 survival is better. This is the actual data.

8 One last point; the overall outcomes for
9 these patients one would expect would get better
10 over time as osimertinib becomes more widely
11 available. So a lot of the benefits we're talking
12 about could not be fully realized because
13 osimertinib was not globally available at the time
14 the majority of the study was being run.

15 DR. HOFFMAN: Dr. Halabi?

16 DR. HALABI: Susan Halabi, Duke university.
17 I have several questions for the sponsor. The
18 first one is how long will it take to reach the
19 target of 175 deaths?

20 DR. MELEMED: I'd like to have Dr. Sashegyi
21 address the target for our final survival analysis.

22 DR. SASHEGYI: Andreas Sashegyi, Lilly

1 statistics. We have observed 125 deaths in the
2 study right now. We are looking for a subsequent
3 175 for a total of 300, and our projected date for
4 achieving that outcome is some time in 2023.

5 DR. HALABI: The follow-up question, I
6 wonder if the sponsor had computed conditional
7 probability. While I agree with the FDA that the
8 only way that we can estimate the benefit on
9 overall survival is to have complete data on
10 300 deaths, for the non-statisticians in the
11 audience, a conditional probability is the
12 probability that the results are going to be
13 positive given the interim data.

14 So I'm struggling with this because looking
15 at the data from REVEL and the slide SU-12, it
16 seems to me that the OS, the upper confidence
17 interval is always way above 1 or around 1.

18 DR. MELEMED: I'm going to have Dr. Sashegyi
19 specifically address your question, but I do want
20 to reiterate that when we met with FDA to discuss
21 the trial, we specifically powered this for
22 progression-free survival and not for survival. We

1 didn't have conditional power on what the planned
2 overall survival would be.

3 Dr. Sashegyi, can you address her question
4 on a conditional probability, specifically?

5 DR. SASHEGYI: Andreas Sashegyi, Lilly
6 statistics. The question around the conditional
7 probability of OS outcomes at the final analysis
8 was examined, and we have a summary here based on
9 statistical modeling, where we projected, based on
10 the information we have now, where we would be at
11 300 events.

12 This gives you -- I know there are a lot of
13 numbers on this slide -- some indication of both
14 the likelihood that the hazard ratio point estimate
15 would fall below certain thresholds; for instance,
16 below 1.0, and that probability is 84 percent; and
17 also the likelihood that the upper confidence limit
18 would fall below certain thresholds. And we can
19 see that there was a very high probability, the
20 last number on the right, on the bottom row -- a
21 high likelihood that the upper confidence limit
22 will be less than 1.3 at 300 events.

1 The take-away message here is when we think
2 about fluctuations in the hazard ratio that are
3 being estimated first at the interim analysis and
4 now at this updated analysis, we see a 99 percent
5 chance that this hazard ratio is going to be
6 certainly less than this threshold of 1.3.

7 DR. MELEMED: Dr. Sashegyi, before you sit
8 down, could you kind of give an estimate of what
9 you'd have to power the trial for if you're even
10 assuming a 7-month improvement of PFS to translate
11 to survival?

12 DR. SASHEGYI: Yes, this really speaks to
13 the challenge that we have in this disease setting,
14 where survival now, as we have observed in the
15 RELAY study, is in fact longer than in previous
16 studies in this setting, exceeding even without
17 statistical modeling, a projected 50 months in both
18 treatment arms.

19 If we step back and think about a situation
20 where the clinically meaningful difference in
21 median PFS would translate directly to a benefit in
22 overall survival, that would require powering a

1 study under the assumptions of medians of, let's
2 say, approximately 50 versus 57 months, which
3 translates to an assumed hazard ratio of 0.88. If
4 you make that kind of an assumption, you would
5 require approximately 2,700 patients and a trial
6 that could take potentially as long as 10 years to
7 complete.

8 DR. HALABI: Thank you. I still have more
9 questions, so you may decide to be at the podium.

10 (Laughter.)

11 DR. MELEMED: I'm not going to answer them.

12 Andreas?

13 DR. HALABI: Considering the subsequent
14 therapies as presented in slide CO-42, have you
15 explored or done some rank preserve of structural
16 failure time modeling?

17 DR. MELEMED: Dr. Andreas?

18 DR. SASHEGYI: We have not conducted those
19 models. This is a good suggestion of course. In
20 this trial, it's important to note there wasn't any
21 particular pattern of crossover by design that we
22 would be looking forward to make those kinds of

1 adjustments. The reality and the challenge that we
2 face is that there were multiple lines of
3 subsequent therapy, and it's not clear how an
4 adjusted model for overall survival, or such an
5 adjusted model, how that would even be conducted
6 and whether that would indeed provide us with more
7 convincing results.

8 The fact is that we have a significant
9 benefit in progression-free survival, which has
10 translated into a benefit of progression-free
11 survival 2, which is an intermediary between PFS
12 and OS. Currently, we see a lower rate of death on
13 the experimental arm versus the control. And
14 finally, the modeling that I talked about earlier
15 also suggests a low likelihood of an OS detriment
16 at the final analysis.

17 DR. HALABI: Then the final question to the
18 sponsor -- although I have one question for the
19 FDA -- is can you show the durability of
20 hypertension? I did not see that presented.

21 DR. MELEMED: Dr. Nirodi, can you discuss
22 hypertension, please?

1 DR. NIRODI: Sandhya Nirodi, Lilly, drug
2 safety. Yes, we looked at the duration of
3 specifically the hypertension events because of the
4 higher incidence in the Cyramza arm. There was no
5 particular trend, so the duration of events were
6 anything from 1 day to 700 days just because of the
7 way adverse events are collected in study and
8 reported as being recovered or resolved by the
9 investigator. So the data are a little bit
10 spurious in the way that it was collected or
11 reported by the investigator as an endpoint for
12 each adverse event.

13 DR. MELEMED: Can you discuss how many
14 patients discontinued due to hypertension?

15 DR. NIRODI: Yes. Overall, there were
16 100 patients who had hypertension in the Cyramza
17 arm, and only one patient actually had to
18 discontinue Cyramza alone because of hypertension,
19 and there were no patients who discontinued study
20 treatment because of hypertension.

21 DR. HALABI: Do you have any data, like a
22 slide, that you could share with us on that?

1 DR. NIRODI: If they can put up the core
2 slide for the discontinuation rates, just the core
3 hypertension slide, please?

4 Here is just a presentation of the core
5 slide, again, on hypertension. As I explained, the
6 hypertension was really a very manageable event,
7 and hypertension is a very commonly associated
8 adverse event with all VEGF inhibitors, including
9 Cyramza. It didn't lead to discontinuation of
10 study treatment in any patient, and only one
11 patient discontinued Cyramza alone, but that
12 patient was obviously able to continue erlotinib
13 treatment and derive benefit from that.

14 DR. HALABI: That's not exactly what I was
15 imagining, but that's ok. I don't want to
16 monopolize the podium here.

17 I have a question for the FDA. Since we're
18 always looking at targeted therapy, as a
19 statistician I'm a little bit struggling because if
20 Cyramza is to be approved based on PFS, are we
21 setting the stage for a new paradigm?

22 DR. SINGH: I think certainly in non-small

1 cell lung cancer, as both the presenters at the
2 FDA, as well as the sponsor, alluded to, certainly
3 there is a precedent within non-small cell lung
4 cancer to use survival as an endpoint for
5 non-targeted therapies and using PFS for targeted
6 therapies.

7 I do think, though, that there was also
8 reference to approvals in both breast cancer, renal
9 cell cancer, and several hematologic malignancies,
10 for example, in which they are approved based on
11 progression-free survival in add-on trials such as
12 this one with uncertain survival benefit at the
13 time of approval, in a setting, in a therapeutic
14 landscape where there are therapies available with
15 have demonstrated survival benefit.

16 So in answer to your question, yes, this
17 would be somewhat precedent setting for this
18 particular tumor type, but certainly not in the
19 broader scope of other therapeutic areas within
20 oncology.

21 DR. HOFFMAN: Dr. Szabo?

22 DR. SZABO: Eva Szabo, NCI. This question

1 is to the sponsor and is regarding the dose, which
2 is different from what has been used in other
3 settings, including for non-small cell. It was
4 referred to in the briefing document, but can you
5 give me a little bit more insight as to why you
6 went with this rather high dose?

7 DR. MELEMED: Yes. I'm going to have
8 Dr. Abada address it, but while he's walking up,
9 I'll let you know that our current approved dosing
10 is 8 milligrams every 2 weeks for most
11 malignancies, but in lung cancer, it's 10
12 milligrams every 3 weeks.

13 Dr. Abada, can you explain our dose
14 rationale for this?

15 DR. ABADA: Yes. Paolo Abada, clinical
16 development. In RELAY, we did use the dose of 10
17 milligrams per kilogram Cyramza every 2 weeks, and
18 that was to achieve a higher exposure. The
19 selection of this dose was based on
20 exposure-response analyses that were done in the
21 initial phase 3 Cyramza trials, where it was
22 observed that in patients who are able to achieve

1 higher exposure, higher drug levels, they appeared
2 to have better PFS and OS compared to patients who
3 had lower exposure levels.

4 So based on that, the decision was made to
5 try to push exposure by increasing dose with this
6 particular regimen. And I should say in those same
7 analyses for exposure response, where a potential
8 benefit was seen with higher exposure, there was
9 not any concerns over increased toxicity. So based
10 on that, this was the dose selected for this trial.

11 DR. HOFFMAN: Dr. Malik?

12 DR. MALIK: Shakun Malik from NCI. I would
13 like to go back to this hypothetical understanding
14 that this should be approved because then the
15 patients have other options of EGFR therapy that
16 they could then go to osimertinib after they have
17 progressed on this drug that we saw this graph.

18 My question is, as a second-line therapy,
19 I'm not really surprised, but a large number of the
20 patients got their EGFR therapy again, including
21 erlotinib, where they had already received
22 erlotinib. So I'm sure that the physicians

1 continued with the patients on erlotinib because
2 they were receiving clinical benefit. On the other
3 hand, I don't see that anyone continued with
4 ramucirumab, so that's a different question.

5 My question is that out of the people -- so
6 as we are saying, this is a better way to do this,
7 and then the patient receives osimertinib when we
8 know that the osimertinib by itself as the first
9 line has improved overall survival. Now, if we are
10 taking away the overall survival benefit and
11 thinking that we will do it in this sequential
12 manner and maybe we will get a better PFS, it is
13 very important to understand what that will mean.

14 These patients did not have any improvement
15 in response rate. They do not have any improvement
16 in quality of life or symptoms. If there was any
17 clinical benefit, that was a delay in chemotherapy
18 or subsequent therapy that we're talking about
19 because that's the only clinical benefit we have.

20 If we are moving the thought processes that
21 we should move osimertinib in the second line, I
22 would like to know of this subsequent therapy, how

1 many of the patients received osimertinib, because
2 they had T790 mutation, because it is approved for
3 T790, which will mean that we are taking the
4 survival benefit away from the patients if it
5 didn't help another 50 percent. So only 50 percent
6 of the patients will develop this mutation and
7 50 percent will not.

8 So did it benefit both patients who
9 developed T790 and who did not develop or did it
10 help across the board? That this
11 is my question.

12 DR. MELEMED: I'm going to address your last
13 question first and then hopefully address your
14 initial questions. When we looked at patients who
15 got osimertinib as a second, third, or odd-line
16 therapy, no patients received it when they had
17 documented T790M mutations. I'm sorry. That's not
18 correct. I'm going to go back to the first
19 question because I'm mixing this up. Let me go to
20 the first question. I got a little bit confused
21 there; sorry about that.

22 Dr. Heymach, can you first address the

1 question about what the approved options are, and
2 that erlotinib is an approved option and is
3 utilized from that aspect, and how we'd use it?
4 Then I'll go back to the T790M question.

5 DR. HEYMACH: That's right. So first to the
6 question would you be removing the survival benefit
7 from osimertinib, now keep in mind the results of
8 the AURA 3 study that was published recently in the
9 New England Journal, that showed that here, for
10 T790M-positive patients, osimertinib did have a
11 survival benefit compared to chemotherapy. So
12 osimertinib has a survival benefit in both the
13 first-line setting and the second line. And I
14 think osimertinib is an excellent drug here, but it
15 does have a survival benefit in both settings.

16 So you would say, well, if you only got to
17 use one drug, given the toxicity and the
18 inconvenience of getting an infusion, you'd pick
19 osimertinib over Cyramza plus erlotinib. But the
20 key is that the drugs are not all interchangeable.
21 You can do Cyramza plus erlotinib followed by
22 osimertinib, but you can't do them in the reverse

1 order. The PFS for osimertinib in the second-line
2 setting is 10.3 months, so that's really a
3 substantial benefit that one couldn't recognize if
4 you did it in the opposite order.

5 Now, the second question, we have outcome
6 for the T790M positive and negative. I believe we
7 have PFS curves for those here.

8 DR. MALIK: That is really my question; did
9 it differ in who had developed T790 or not? I know
10 it works in T790 as a second line, but first line,
11 it works for everybody.

12 DR. MELEMED: So are you talking about how
13 many patients got T790M? Is that what your
14 question is? I'm not following.

15 DR. MALIK: Yes. Actually, you had in your
16 briefing package suggested that it was same, which
17 is about 50 percent of the patients.

18 DR. HEYMACH: First of all, if we could go
19 back to the PFS curves to the patients who got
20 osimertinib, let me explain to people that don't
21 treat lung cancer here the practice for assessing
22 T790M. This was done using plasma T790M because,

1 of course, patients were being treated on the study
2 here. Plasma is readily available.

3 Standard practice when we're treating these
4 patients is to check plasma first. Plasma is
5 specific but it's not sensitive. Plasma typically
6 picks up about 80 to 86 percent, depending on what
7 study you're looking for. Earlier studies with
8 Cobis actually said as low as 60 to 70 percent of
9 the T790M is detected. So if a patient progresses,
10 if the plasma is positive, you move to osimertinib.
11 If it's negative, you typically obtain a biopsy.
12 In studies we and others have reported, you pick up
13 T790M in an extra 15 percent or more.

14 In this study, the reported T790M
15 frequencies were 43 and 47 percent. The rate of
16 detection is proportional to tumor volume, so there
17 could have been differences in tumor volume or
18 shedding rates there. But again, this is an
19 underestimate given that standard practice would be
20 if plasma is negative, you would obtain a biopsy
21 afterwards. So we expect that the true ultimate
22 rate is going to be in the high 50's, which is

1 consistent with prior studies for T790M, and it's
2 indistinguishable between the two arms there.

3 Again, the data we have, again, of course
4 there are limitations to assessment of second-line
5 osimertinib outcome data and the fact that not
6 equal numbers of patients have progressed on the
7 two arms. So that's why there are differences in
8 the total number of patients on the
9 Cyramza-erlotinib versus the placebo-erlotinib arm.
10 But there's no detectable difference in how you did
11 with osimertinib once you got there, and the rates
12 of detection were not appreciably different between
13 them either.

14 So insofar as the data can tell us in an
15 exploratory post doc analysis, supports outcomes
16 are comparable or better with patients treated with
17 osimertinib after this regimen.

18 DR. HOFFMAN: Dr. Klepin?

19 DR. KLEPIN: Thank you. Heidi Klepin. I
20 wanted to just ask if you could provide us some
21 additional data, hopefully via a slide, on the
22 adverse events, particularly by subgroup. So I'm

1 particularly interested, for example, in how the
2 older adult patients may have fared with respect to
3 increased rates of toxicity compared to the younger
4 patients. Then if you have any data on those with
5 comorbid conditions, that would also be really
6 interesting as we're thinking about the risk
7 profile and the added risk potentially to patients
8 on a combination.

9 DR. MELEMED: We don't have a specific slide
10 on the comorbidities, but we do have one -- and
11 Dr. Nirodi can discuss -- based on age less than
12 and greater than 65.

13 DR. NIRODI: Yes. Sandhya Nirodi, drug
14 safety. We looked at two age thresholds, 65 and
15 above and 17 above. This is the overview of
16 adverse events in patients 65 and above compared to
17 younger than 65. What we noticed here was
18 independent of treatment arm, actually, patients
19 above the age of 65 had a higher rate of grade 3
20 adverse events and SAEs compared to those under the
21 age of 65.

22 Looking at the specific trend in grade 3

1 events, really, only hypertension was the grade 3
2 event that occurred at a 5 percent higher rate in
3 patients above the age of 65 treated with Cyramza
4 plus erlotinib compared to those treated with
5 placebo.

6 We didn't identify any specific pattern in
7 SAEs, and I think of interest, there was equal
8 number of patients in the Cyramza plus erlotinib
9 arm under 65 and over 65 years of age who had
10 deaths due to AEs on study treatment, and
11 discontinuation rates were fairly similar between
12 age groups.

13 DR. KLEPIN: Just a quick follow-up, you
14 said you also looked at a different age cutoff of
15 those data?

16 DR. NIRODI: Yes.

17 DR. KLEPIN: Did you say 70 or 75?

18 DR. NIRODI: Just from a clinical
19 perspective, we looked also at the 70-year cutoff
20 because of, really, more critical relevance as for
21 an elderly population. Here we saw a
22 slightly -- bear in mind the number of patients

1 over the age of 70 only made up a relatively small
2 proportion compared to those under 70, as reflected
3 in the overall demographics for this indication.
4 In the Cyramza plus erlotinib arm, we saw a higher
5 rate of grade 3 adverse events in the older
6 population compared to patients under 70.
7 Independent of treatment arms, the SAE rate was
8 higher in patients 70 years or older compared to
9 under 70.

10 Interestingly, the deaths due to AEs, 5 or 6
11 deaths occurred in patients under 70. When we look
12 at the specific trends in the grade 3 events,
13 similar to the overall population, we saw a higher
14 incidence of hypertension and diarrhea at a higher
15 rate of 5 percent in the Cyramza treated patients
16 above the age of 70 compared to those under 70.
17 Really, most of the differences that we observed
18 were in low-grade events between treatment arms, so
19 grades 1 and 2.

20 DR. HOFFMAN: Dr. Hindrichs?

21 DR. HINDRICHs: Thank you. I'm still really
22 struggling to understand the unmet clinical need,

1 and I just want to try to reiterate and clarify the
2 question.

3 There are five approvals in this space now.
4 One of them is osimertinib, which actually seems to
5 be supported as well, or better, than the current
6 treatment that's being proposed. Also, it doesn't
7 require an every 2-week IV infusion, so it's less
8 convenient for patients.

9 So it seems like the unmet clinical need is
10 not really in the indication that's being sought,
11 but the unmet clinical need the applicant seems to
12 be inferring is the need to delay the time to
13 chemotherapy. That is the purported benefit of
14 this treatment, is to use it in a strategy where
15 it's sequenced before osimertinib to delay the time
16 to chemotherapy.

17 I would say that if the benefit is in the
18 delay of time to chemotherapy, then shouldn't that
19 be the primary endpoint of the clinical trial; and
20 the question being asked and the data that's being
21 generated is for us to evaluate whether there's a
22 benefit to the drug? I'd like to ask if maybe

1 Dr. Vokes could address that, please?

2 DR. MELEMED: Before Dr. Vokes goes, when we
3 designed the trial, osimertinib was not approved.
4 Still currently, erlotinib is an approved and
5 utilized regimen that's done.

6 But, Dr. Vokes, can you address the
7 question?

8 DR. VOKES: Yes. I would maintain first
9 that PFS was the primary endpoint, and it was met.
10 So you do have with this trial, and with this
11 combination, a very specific prolongation of
12 progression-free survival that I think is very
13 meaningful to patients.

14 Now, we don't have a direct comparison to
15 osimertinib. That was not available at the time,
16 so that is correct, so we have to say how would we
17 use this in clinical practice? I think that that
18 is correct. The way I would go is to tell a
19 patient there is osimertinib. It is a terrific
20 drug, and it has a good progression-free survival.
21 But patients will always come and ask what is
22 plan B?

1 Progression-free survival when it ends is a
2 shock to the patient, as we all know. It disturbs
3 their understanding that the disease is under
4 control and it leads to a next discussion with the
5 patient. So a patient will ask "What is your plan
6 B when I have progressive disease?"

7 The idea is that we will be able to answer,
8 well, there is another treatment option where you
9 get 2 drugs. It does require you coming here every
10 2 weeks -- some patients find that reassuring to
11 get a drug intravenously -- but then there is a 50
12 percent chance that we will be able to follow that
13 up with a non-chemotherapy option subsequently. I
14 think that from a treating-physician point of view,
15 very positive.

16 DR. PAZDUR: If I could just jump in here,
17 I'd like to put this in some kind of regulatory
18 framework. We're talking about a regular approval
19 for this drug. Basically, the drug has to show
20 that it's safe and effective, not that it meets
21 some unmet medical need; not that it's better than
22 a comparator drug so to speak. It doesn't have to

1 be better than the next available drug. It just
2 has to, on its face value, show that it's safe and
3 effective. So I just want people to understand
4 that.

5 DR. HOFFMAN: Okay. Dr. Deeken?

6 DR. DEEKEN: John Deeken, from Inova Health
7 System, a clarifying question about the timeline to
8 getting mature overall survival data. You said you
9 need another 175 events, and that would take until
10 2023. Is that January '23? December '23?

11 I'm just curious by the math on that. If
12 you had 46 events in 2019, I would expect an
13 accelerating rate of events given the expected
14 survival of these patients. How is the calculation
15 of either the end or beginning of '23? What's that
16 based on? What's the numbers of events per year
17 you think you'll get to over the next four years?

18 DR. MELEMED: Dr. Sashegyi, Can you address
19 our projections regarding overall survival?

20 DR. SASHEGYI: Andreas Sashegyi. Yes,
21 there's of course some inherent uncertainty in
22 terms of estimating when we will arrive at 300

1 events. Our best guess right now is some time in
2 the first half of 2023. While you're right that
3 one might assume that the rate of deaths ought to
4 increase over time, what's of course also happening
5 is that the risk, the number of patients remaining
6 at risk to die, is also getting smaller. But this
7 is our projection right now, first half of 2023.

8 DR. HOFFMAN: Dr. Pazdur, just so I clarify
9 what you meant by your comment, the decision that
10 we make --

11 DR. PAZDUR: It's a regulatory perspective
12 here --

13 DR. HOFFMAN: Well, right. But I mean if we
14 approve this request because we think it's safe and
15 efficacious, it's not up to us how the company may
16 market it and so on, even though it may be number 5
17 or number --

18 DR. PAZDUR: It may not even be marketed; I
19 don't know. But here again, the determination is
20 safe and effective. There is no legal imperative
21 that they have to demonstrate the drug meets an
22 unmet medical need, nor do they have to show that

1 it's better than an available therapy.

2 DR. HOFFMAN: Dr. Garcia?

3 DR. GARCIA: Jorge Garcia. A question and a
4 comment. I don't think there is any doubt that you
5 guys have met your primary endpoint of PFS. I
6 think in 2020, most medical oncologists are quite
7 familiar with how to identify and how to manage
8 side effects from vascular disrupting agents, so
9 I'm not that bothered about the side effects
10 profile with the exception of those 6 patients who
11 have died on trial.

12 One question is, what is the utilization or
13 the use of doce and rami in the United States right
14 now as a second-line therapy for non-small cell
15 lung cancer?

16 My comment relates to what Dr. Hinrichs has
17 alluded to. I don't want to recycle the comments,
18 but I think in slide CO-64, for me, what has been
19 misleading is the flow of receiving rami and
20 erlotinib, and upon progression, if you have
21 mutation T90, then you get osimertinib.

22 I would argue that this is misleading simply

1 because that data that you guys are putting, this
2 slide reflects the data from second-line therapy
3 after EGFR-failure patients. So that 10.1 months,
4 at least how I interpret this, is not reflective of
5 osi in the post-erlotinib in combination with rami
6 because that data doesn't exist.

7 So I think that is what I'm sort of
8 struggling with because if the argument is you can
9 get erlotinib and rami and then follow up
10 progression, and you get osi if you have a T90
11 mutation, it's no different than what you saw in
12 your first progression, where 66 percent of
13 patients who got the combination went on to receive
14 erlotinib and osi, and 57 percent who received
15 placebo and erlotinib went on to stay and/or
16 receive an EGFR inhibitor.

17 DR. MELEMED: I'm going to have Dr. Heymach
18 discuss this. But, in general, you're correct. We
19 don't have specific data on this trial and what the
20 sequencing is. I think that's a question for
21 future study design. When Dr. Vokes presented
22 initially, it was what we had seen with the current

1 design, but right now we don't have the data
2 specifically in this trial.

3 Dr. Heymach, can you discuss what the
4 options are and what you'd use for chemotherapy,
5 specifically?

6 DR. HEYMACH: Just to clarify and I guess
7 put us all on the same page, it's the AURA 3 study,
8 and the eligibility for AURA 3 is that you had to
9 have failed an EGFR TKI and have T790M positivity
10 in the setting of a sensitizing mutation, which is
11 what was here.

12 Now, it is a theoretical possibility that
13 patients who progressed on a TKI would do better
14 than patients who progressed on a TKI plus a VEGF
15 inhibitor. That's absolutely a theoretical
16 possibility. Unless you did an entire study of
17 just patients treated with Cyramza and erlotinib,
18 there would be no way to formally address it.

19 The best we can do to address that with the
20 data we have is, first of all, to look at did
21 patients then go onto EGFR TKIs? In here, if we go
22 to a CC-25, the answer is absolutely yes; they went

1 onto EGFR TKIs. We have the breakdown on a
2 different slide, but where available, they went
3 onto osimertinib. So this absolutely showed they
4 could go on osimertinib here.

5 Now, I'll mention also, standard of care for
6 patients with EGFR mutations nowadays -- and you
7 may wonder when we had a number of drugs approved
8 with just PFS advantages, how has survival gotten
9 so good?

10 Well, the way it's gotten so good is we'll
11 often in the case of oligoprogression radiate a
12 single lesion. Let's say you've just got a bone
13 met or a brain met, radiate that, and then continue
14 the TKI. So that's why patients on the Cyramza
15 plus erlotinib arm, consistent with the NCCN
16 recommendations, might have oligoprogression, local
17 treatment, and continue on erlotinib, then go to
18 osimertinib later.

19 So the TKIs after the first-line regimen
20 consist of patients who had oligoprogression and
21 then continued on a TKI, and those who had T790M,
22 and then crossed over to osimertinib.

1 Now, if I could have the two Kaplan-Meiers
2 again? And I apologize for showing this, but to
3 the question -- which is a legitimate question and
4 a possibility that one would have to
5 consider -- does prior treatment with Cyramza lead
6 to you doing worse on subsequent osimertinib, the
7 best data we have is here.

8 This is the PFS2 for patients treated with
9 osimertinib, and you can see that in the Cyramza
10 plus erlotinib -- and again, this is a post hoc
11 analysis just with the best data we
12 have -- actually, if anything, do better than
13 patients on the control arm, who then crossed over
14 to osimertinib. Perhaps it's because they have a
15 smaller disease burden because they have a deeper,
16 longer response.

17 Obviously, it's speculation, but there's no
18 evidence, that we have in hand, that Cyramza plus
19 erlotinib shortens how you do on osimertinib. This
20 is fully consistent with the data we see in the
21 real world.

22 DR. HOFFMAN: Dr. Malik?

1 DR. MALIK: I have a follow-up question on
2 that graph, please. My question is the graph is
3 about patients who have developed T790. Maybe I
4 was not clear the first time. I wanted to know if
5 you have any data on patients who did not have
6 T790, who just progressed on EGFR. I see that the
7 graph shows that if you have T790, that you could
8 on this drug -- they still respond to osimertinib.

9 So my question is, of the 50 percent of the
10 patients who did not develop T790 -- you are taking
11 the first-line therapy from them, which has
12 survival, and giving them this combination. So my
13 question is how did they do?

14 DR. HEYMACH: Right. I believe we had a
15 supplemental slide -- I don't remember the
16 number -- for the outcomes of patients with T790M
17 positivity versus T790M negativity.

18 DR. MALIK: That really was my question from
19 the first time.

20 DR. HEYMACH: Do we --

21 DR. MELEMED: I don't believe we have that
22 slide.

1 DR. HEYMACH: We may not.

2 DR. MELEMED: I think if you request, we can
3 try to get that at the break. But how you're
4 specifically looking at it, I don't think we have
5 that analysis right now.

6 DR. HEYMACH: And I'll point out, in
7 general, patients that develop T790M negative
8 resistance do worse overall, but the first-line
9 PFS -- so the question is, are you giving up -- are
10 you now putting them on a less effective treatment
11 and losing osimertinib? Well again, the efficacy
12 is measured by response rate, and PFS is 19.4
13 months for Cynamza plus erlotinib, and 18.9 months
14 for osimertinib.

15 So I think you can say, well, you've
16 subjected them to more visits and the additional
17 toxicity, but there are no signs they've received a
18 less effective first-line therapy if they don't
19 develop T790M subsequently.

20 DR. MALIK: Yes. But then what treatment
21 will they get? They will still get the
22 chemotherapy, which is the question.

1 DR. HEYMACH: Right.

2 DR. MALIK: So these are not just small
3 numbers; these are 50 percent of the patients who
4 will not develop T790.

5 DR. HEYMACH: T790M negative patients in
6 general, immunotherapy is not indicated.
7 Immunotherapy is not approved until it's after
8 platinum-free EGFR mutants. So the standard of
9 care for a T790M-negative patient would be
10 chemotherapy unless they continue to TKI with
11 oligoprogression. That's correct, regardless of
12 what the first-line treatment is.

13 DR. MALIK: Right. So I understand that,
14 but then you take these patients who do not have
15 T790, the activated mutations, and you give them
16 osimertinib in the first line, so they have a
17 survival advantage. So are we taking 50 percent of
18 the patients and giving them this combination, and
19 then subsequently they have nothing but
20 chemotherapy is really the question.

21 DR. HEYMACH: Right. Unfortunately, right
22 now we don't have a way to predict who's likely to

1 develop T790M or not at the end of subsequent
2 therapy --

3 DR. MALIK: Correct.

4 DR. HEYMACH: -- so in both cases, if you're
5 T790M negative, in the case of osimertinib, you
6 don't develop T790M-negative resistance ever.
7 That's a smattering of different resistance
8 mechanisms we showed --

9 DR. MALIK: Correct.

10 DR. HEYMACH: -- and chemotherapy is the
11 standard.

12 Here, after a comparable period of time, if
13 you don't develop T790M, chemotherapy is indicated.

14 DR. MALIK: Correct.

15 DR. MELEMED: Can I make a quick comment
16 here? We don't specifically have the answer, and
17 we'll look to see if we can get that. We do have
18 progression-free survival 2, which we did show, and
19 we didn't see any worsening in the second
20 progression. That captures all patients. So we
21 can also look to see specifically in T790M
22 negative, but we don't have that, and we'll see if

1 we can get that after the break.

2 DR. MALIK: Okay. Thank you.

3 DR. HOFFMAN: Okay. Let's take a 15-minute
4 break and reconvene at 3:45. Thank you. And I
5 remind the panel not to speak about the matters at
6 hand during the break.

7 (Whereupon, at 3:32 p.m., a recess was
8 taken.)

9 DR. HOFFMAN: Dr. Melemed informed me that
10 he has an answer for the question that Dr. Malik
11 raised at the end of our discussion, so please go
12 ahead, and then we'll have our open public session.

13 DR. MELEMED: Can you pull up slide AA-2?
14 Slide up.

15 We did just do an analysis looking to see,
16 in T790M-negative patients at progression, whether
17 they had a worsening of survival from that. Again,
18 this is very long, but we are not seeing any
19 evidence that there's a worsening survival in that
20 aspect. The hazard ratio at this point is 0.66 in
21 favor of patients receiving Cyramza in the
22 T790M-negative patients.

1 **Open Public Hearing**

2 DR. HOFFMAN: Okay. Thank you. We're going
3 to now go to the open public hearing session.

4 Both the Food and Drug Administration and
5 the public believe in a transparent process for
6 information gathering and decision making. To
7 ensure such transparency at the open public hearing
8 session of the advisory committee meeting, the FDA
9 believes that it is important to understand the
10 context of an individual's presentation.

11 For this reason, FDA encourages you, the
12 open public hearing speaker, at the beginning of
13 your written or oral statement to advise the
14 committee of any financial relationship that you
15 may have with the sponsor, its product, and, if
16 known, its direct competitors. For example, this
17 financial information may include the sponsor's
18 payment of your travel, lodging, or other expenses
19 in connection with your attendance at this meeting.

20 Likewise, FDA encourages you at the
21 beginning of your statement to advise the committee
22 if you do not have any such financial

1 relationships. If you choose not to address this
2 issue of financial relationships at the beginning
3 of your statement, it will not preclude you from
4 speaking.

5 The FDA and this committee place great
6 importance in the open public hearing process. The
7 insights and comments provided can help the agency
8 and this committee in its consideration of the
9 issues before them.

10 That said, in many instances and for many
11 topics, there will be a variety of opinions. One
12 of our goals today is for this open public hearing
13 to be conducted in a fair and open way, where every
14 participant is listened to carefully and treated
15 with dignity, courtesy, and respect. Therefore,
16 please speak only when recognized by the
17 chairperson. Thank you for your cooperation.

18 I'll now ask speaker number 1 to step up to
19 the podium and introduce yourself. Please state
20 your name and any organization you're representing
21 for the record.

22 DR. ZUCKERMAN: I'm Dr. Diana Zuckerman.

1 I'm president of the National Center for Health
2 Research. We do not accept funding from
3 pharmaceutical or medical device companies, so I
4 have no conflicts of interest.

5 Our center analyzes scientific and medical
6 data and information, and we use that to provide
7 objective health information to patients, health
8 professionals, and policy makers. We have a
9 particularly large program on cancer issues, cancer
10 prevention, and treatment, and we also have a help
11 line for cancer patients and their families. We do
12 a lot of communication with patients that's
13 relevant to today's meeting, but I also should just
14 say my training is as an epidemiologist previously
15 on the faculty at Yale and a researcher at Harvard.

16 As you know and as you've heard, there's not
17 long-term data to make conclusions about overall
18 survival, but the results aren't promising so far.
19 The longer the study continued, there was a
20 reduction in the apparent benefit, or possible
21 benefit, for overall survival, but in fact the
22 survival rates are almost identical.

1 We agree with the FDA and their analysis
2 that based on the confidence intervals, there could
3 be a benefit of about 30 percent or it could be
4 worse, about 30 percent, in terms of overall
5 survival. So we have to look at both sides of the
6 confidence intervals.

7 As you also know, we should look at the
8 number of deaths, and within 30 days of the 221
9 patients taking Cyramza, 6 died from adverse
10 events. And there was a conclusion that at least
11 one of those was from the drug, one other one might
12 be from the drug, and the other 4 didn't seem to be
13 from the drug. But we didn't really have enough
14 data for the rest of us to look at that and draw
15 conclusions.

16 The bottom line here is that we know that
17 Cyramza can be fatal, and based on the data
18 provided so far, there's no evidence that it
19 increases overall survival, on average, for the
20 patients in the indication. If it does benefit any
21 specific types of patients in terms of overall
22 survival, that group hasn't been identified yet.

1 I want to talk about progression-free
2 survival because we talk to a lot of patients at
3 our center. As I said, we have a help line and we
4 also provide a lot of user-friendly information to
5 patients. They specifically want to live longer
6 and they want to have a better quality of life for
7 the days, weeks, and months they have left.

8 The only patients I've ever talked to that
9 really wanted or really cared particularly about
10 progression-free survival, I have to tell you, they
11 didn't actually know what it meant. So when you
12 talk to patients, they know what they want, and
13 progression-free survival matters only if the
14 quality of life is good; otherwise, it's not what
15 they want. It's not what they are looking for.
16 It's hard to explain that to them, and
17 unfortunately not all doctors are able to do that.

18 I guess the only other thing I want to say
19 in the time I have left is that the adverse events
20 are really very important to look at, and they're
21 very serious. I will also say heart disease is the
22 number one killer, and high blood pressure is the

1 silent killer. So implying that that's not a
2 serious adverse event is really a problem, and we
3 shouldn't be looking at it that way, in addition to
4 all the other adverse events that we know about.

5 So given the situation and the fact that FDA
6 did say they wanted longer term data, the company
7 decided to go ahead anyway. And I just want to say
8 that I hope that you will urge the FDA to continue
9 to demand longer term data to find out if there
10 really is a benefit for overall survival because
11 that's what really matters to patients, and that's
12 what, as a scientific agency, FDA needs to focus
13 on. Thank you very much.

14 DR. HOFFMAN: Thank you.

15 Will speaker number 2 step up to the podium
16 and introduce yourself? Please state your name and
17 any organization that you're representing for the
18 record.

19 MS. MIXON: Hi. My name is Samantha Mixon.
20 I was diagnosed with -- I'm here on behalf of Eli
21 Lilly. They paid for my travel and lodging, but
22 that's it. They didn't pay for my time. And my

1 time is very important because I have stage 4 lung
2 cancer, and I have a daughter at home. So I'm
3 taking my time away from her to come up here to
4 talk about this.

5 I have deletion exon 19, and I'm here to
6 talk about the benefits of this drug. I was very
7 nervous coming up here with the flu, and
8 coronavirus, and everything else. I bought the
9 most expensive mask. It was \$52; ridiculous. I'm
10 a single mother. I've been living 7 years with
11 stage 4 lung cancer with my daughter. I didn't
12 think that I would see her go from 7 years old to
13 15, so she's driving now.

14 I was only given 12 to 18 months to live.
15 The quality of life that I've had on this TKI has
16 been amazing. I don't think that I could ask for a
17 better quality of life. I had to go to three
18 different places in order to get the kind of
19 treatment that has helped me live this long. Each
20 place had a different idea about what treatment I
21 should go on. One of them happened to be
22 chemotherapy, which I am totally against. As a

1 mother, you don't want your child to watch you
2 wither away from chemotherapy, so therefore I just
3 want to take these TKIs and go and try to have as
4 many options as I possibly can.

5 I've had bumps in the road, radiation,
6 pulmonary embolisms, strokes, but I've been
7 blessed. I've had those even with the drug that
8 I'm on, but I'm still here. It may not be the
9 norm.

10 I know recently we lost quite a few
11 long-term EGFR survivors, and I did hear a lot
12 about people passing away. I lost 3 friends in
13 3 days at the beginning of this month, and they
14 were at 6 years, 11 years, and 5 years. I'm not
15 surrounded by family, so if I had to go on
16 chemotherapy, I have to move away from my daughter.
17 I have to move in with my sister in North
18 Carolina -- and I live in south Georgia; that's
19 where the accent comes from. I have to move with
20 my sister in North Carolina so she can help take
21 care of me.

22 Lung cancer patients like me, they want to

1 push off chemo as long as possible. We want more
2 targeted therapies. Each one offering more and
3 more is what we want. I don't want to ever run out
4 of options. I want to see my daughter graduate. I
5 want to see her play volleyball. I want to see her
6 have children. I want to see all that, and right
7 now we don't have the options.

8 Even if it is scary, you know, whatever
9 could happen, we have stage 4 lung cancer and we've
10 accepted that. Life isn't fair. I learned that
11 7 years ago, and it's very hard for me to realize
12 that I may not be here for all those times with my
13 daughter. I mean, I was only 33 when I was
14 diagnosed. I'm 40 now, which is great, but I want
15 more. I want more of life.

16 So I think that the quality of life is
17 really what matters. I've already informed my
18 parents that if I have to go into chemotherapy, I
19 would probably just stay at home and be with my
20 daughter as long as possible. That's mainly what I
21 have to say. Thanks.

22 DR. HOFFMAN: Thank you.

1 Will speaker number 3 step up to the podium
2 and introduce yourself? State your name and any
3 organization that you may be representing. Thank
4 you.

5 MS. PHILLIPS: Good afternoon. My name is
6 Anne Phillips, and I'm here to share my story.
7 While Eli Lilly is paying my travel expenses, they
8 are not paying me for my time or dictating what I
9 say. Since so many non-small cell lung cancer
10 patients are diagnosed at a late stage without
11 symptoms or cause, I'm going to give you a brief
12 summary of my diagnosis.

13 Three days before diagnosis, I was on a trip
14 away with my husband. I was clumsy and somewhat
15 tired during the trip. The following Tuesday as I
16 was getting my kids ready for preschool and first
17 grade, I began shaking and collapsed to the floor.
18 By the end of the day, I had had a CT, an MRI, and
19 the oncology residents suspected that I had lung
20 cancer. That's it; no other symptoms; no coughing;
21 no pain; no family history; and no history of
22 smoking. On Monday, I was healthy, and on Tuesday

1 I had a seizure and a terminal diagnosis.

2 After brain surgery and 2 rounds of gamma
3 knife radiation surgery to treat my 16 brain mets,
4 I started taking the targeted therapy erlotinib.
5 The targeted therapies have side effects like the
6 rash on my face. This picture doesn't show the
7 heat radiating from my face and the painful
8 itchiness going on, but this was short-lived. And
9 after a dose adjustment, the symptoms all but went
10 away. For most of us, the side effects are
11 annoying but only a minor inconvenience on the
12 treatment path.

13 Two and a half years later, one of lymph
14 nodes lit up, and a biopsy showed that the new
15 tumor had progression mutation T790M. It was time
16 to switch medication. This time the targeted
17 therapy I needed for both mutations was approved
18 only 10 weeks earlier. I switched to osimertinib.
19 I'm 2 years in on the second line of treatment with
20 only a few radiation tune-up sessions.

21 Last night as I was eating dinner at the
22 hotel, I wrote a post on Facebook about this

1 speech. My friend Peggy commented immediately,
2 saying, and I quote, "I had 29 consecutive Cyramza
3 infusions, 16 in combo with Taxotere and 13 as
4 maintenance. This was my miracle drug for a long
5 time."

6 While Peggy has an EGFR mutation, it is an
7 extremely rare one that doesn't respond to TKI
8 inhibitors. Peggy was on the Taxotere-Cyramza
9 combo for a year. She took Cyramza for 18 months
10 with no progression. She ended our conversation
11 with, "I'm just thrilled you're here doing this.
12 No one has been talking about Cyramza for 3 and a
13 half years, and it was such a miracle drug for me."
14 She definitely had a favorable result from this
15 drug.

16 I have a bachelor's of science, but it's
17 engineering, not in medicine. I can't hope to stay
18 on top of the intricate mechanisms of inhibition
19 and mutation expression being investigated in
20 today's research arena, but I also do not choose to
21 make understanding my cancer a full-time job. I
22 want to live my life and make memories with my

1 kids. I depend on scientists like the ones in this
2 room to work with the policy makers to approve the
3 drugs and procedures that will keep me alive.

4 I have many other friends currently in
5 trials because they have unique cancer expressions,
6 no other treatment and no other treatment options.
7 Research matters, and it matters to me. Research
8 is not some attenuated abstract idea. PFS and
9 treatment options are extending patients' lives
10 right now.

11 I'm here to convey the sense of urgency that
12 those of us who advocate for lung cancer feel.
13 Lung cancer is the most deadly cancer for both men
14 and women, and yet receives just a fraction of
15 research funding of other cancers. In 2018, I
16 visited my state senators and representatives in
17 Washington D.C. with the American Lung Association
18 to advocate for more research funding. Later that
19 year, I raised more than \$8,000 for research.

20 In 2 months, I'll be a 5-year survivor. As
21 I tell my oncologist at every appointment, I just
22 need 10 more years. Drugs like Cyramza are

1 stepping stones on the path to make lung cancer a
2 chronically-managed disease instead of a death
3 sentence. Thank you for doing your part. I'm here
4 doing mine. Thank you for your time.

5 DR. HOFFMAN: Thank you.

6 Will speaker number 4 step up to the podium
7 and introduce yourself? State your name and any
8 organization that you're representing for the
9 record.

10 MS. DIETZER: Hi. My name is Gina Dietzer.
11 I am a wife, a mom, a nurse, and a lung cancer
12 survivor of 4 years. Advocating for lung cancer is
13 so important to me that I traveled 2000 miles
14 yesterday just to speak here today and will jump
15 back on a plane in a few hours to travel 2000 miles
16 home. Eli Lilly covered my travel expenses but not
17 my time, and I am not speaking on their behalf.
18 I'm here on behalf of patients and their families.

19 In 2015, I was diagnosed in the emergency
20 room with lung cancer without any lung cancer
21 symptoms. I went to the ER due to lower back pain,
22 which I believed were kidney stones. A CT scan

1 showed kidney stones, as well as a mass in my left
2 lung and lesions all along my spine. I was
3 shocked, and I didn't know what to do. I was 51
4 years old and a non-smoker my whole life. Then
5 fear hit me as I realized my father died of cancer
6 when he was 51 due to a brain tumor.

7 My community oncologist was not supportive
8 of clinical trials. He didn't want to talk to me
9 and gave me about 6 months to live. He chose to
10 treat me with 3 chemotherapy drugs. These 3 chemos
11 were harsh on my body and having a delicate stomach
12 made it almost unbearable. I lost 30 pounds in
13 5 weeks. I was sick, and with treatment, I felt
14 sick.

15 I fell into a depression, lost my straight
16 long hair within weeks, couldn't do my job as a
17 nurse, and felt sad that I would miss main-life
18 events such as high school graduation or marriages
19 of my daughters who were 16 and 19. When I felt
20 well enough to go back to church, my pastor, who
21 had lost his wife after a 6-year battle,
22 recommended that I get a second opinion and that I

1 needed to be my own advocate. After all, I was a
2 nurse, and that's what I tell my patients all the
3 time. Advocate for yourself.

4 I began my research that day and discovered
5 a National Cancer Institute right in my own city.
6 Not only that, but there were 5 oncologists that
7 specialized in lung cancer alone there. My liver
8 lung cancer oncologist shared with me that my
9 original biopsy results meant I was eligible for
10 targeted therapy. In addition, and so importantly,
11 I did not have to continue with chemo.

12 Life completely changed for me. I felt
13 better, and my disease was not progressing. I
14 found LUNGevity, a great advocacy organization with
15 many resources and signed up for its LifeLine
16 program. I also became a mentor for fellow
17 patients.

18 Later, I sat on a patient advisory board and
19 met face to face 7 other lung cancer patients and
20 heard their stories. I attended a LUNGevity
21 conference and met so many lung cancer patients,
22 including my LifeLine program friend.

1 Unfortunately, she ran out of treatments and has
2 since passed away.

3 Because of the effective medications for my
4 cancer, I was able to see my oldest daughter get
5 married and my youngest daughter graduate from high
6 school. In addition, I recently became a grandma,
7 and my youngest daughter has chosen pre-med as her
8 major in college.

9 You see, each year and each month is so
10 important. The medications can keep the cancer
11 stable until the cancer finds a way to figure out
12 the drug and grow again. Some treatments are
13 harsher than others, but they all give us extra
14 time. Too many of my lung cancer friends have lost
15 the battle when there's no more treatments for
16 them. We need more treatments.

17 Last year, I faced progression. Radiation
18 helped, but my targeted therapy stopped working.
19 My treatment was switched back to IV chemotherapy.
20 I hate chemo, but I am glad and I thank God that my
21 cancer's responsive and I'm stable again because
22 every month matters. I am a wife, a mom, a grandma

1 now, an advocate, and a survivor. Thank you for
2 listening to what's important to me. Now I have a
3 flight to catch home, so thank you for your time.

4 **Questions to the Committee and Discussion**

5 DR. HOFFMAN: Thank you.

6 The open public hearing portion of this
7 meeting is now concluded and we will no longer take
8 comments from the audience. The committee will now
9 turn its attention to address the task at hand, the
10 careful consideration of the data before the
11 committee, as well as the public comments.

12 I'd like to proceed with the questions to
13 the committee and the panel discussions, and I'd
14 like to remind public observers that while this
15 meeting is open for public observation, public
16 attendees may not participate except at the
17 specific request of the panel.

18 The question to the committee is for us to
19 discuss whether the results of the RELAY trial,
20 with a demonstrated improvement in PFS, support a
21 positive benefit-risk assessment, given the
22 uncertain effect on overall survival and the

1 increased toxicity associated with the addition of
2 ramucirumab to erlotinib.

3 Are there any issues or questions regarding
4 the wording of our question? Yes?

5 DR. HALABI: Susan Halabi. I had a question
6 but not regarding the wording, so can I ask my
7 question or should I wait until later?

8 DR. HOFFMAN: Is your comment about the
9 wording of the question?

10 (Dr. Halabi gestures no.)

11 DR. HOFFMAN: Okay. Before we get to the
12 discussion, can we agree on the question?

13 (Panel members gesture yes.)

14 DR. HOFFMAN: Okay. Now we'll open the
15 question to discussion. Dr. Halabi?

16 DR. HALABI: Thank you. Susan Halabi, Duke
17 University. My question is more to the FDA first.
18 What will happen if this committee approves PFS and
19 the OS result in 2023 comes back with an upper
20 confidence interval of 1.2. I'm going to be
21 generous. I'm not going to say 1.3.

22 DR. PAZDUR: For a confidence interval?

1 DR. HALABI: For OS.

2 DR. PAZDUR: Well, I'd like to refer you to
3 slide 20, where we have approved 3 drugs where the
4 upper limit of the confidence interval is -- oh,
5 above 1? Okay. So there's obviously a variability
6 in overall survival. We'll have to take a look at
7 what is the reason for that and do we really think
8 that there is an adverse effect on overall
9 survival. I think we'd be far more concerned if
10 the point estimate was, so to speak --

11 DR. HALABI: Yes.

12 DR. PAZDUR: -- not the 95 percent
13 confidence interval surrounding that evidence.

14 Here again, take a look at slide 20. There
15 are 3 drugs that we've approved where the upper
16 limit of normal has been above 1; then we have to
17 understand why that happened. Was there an
18 imbalance in patient characteristics; an imbalance
19 in subsequent therapies? If it isn't, then we have
20 to have a discussion with the company probably
21 about withdrawing the drug.

22 I will make a public statement on this.

1 We've had these conversations with Eli Lilly, this
2 exact same sponsor, a year ago on the withdrawal of
3 a drug for sarcomas, and I will say publicly about
4 this, they were very amicable and very helpful in
5 removing that drug because they realized that it
6 needed to be removed from the market.

7 We look at that as a safety finding that has
8 emerged; not so much an efficacy finding, but this
9 is a safety finding that must be addressed to
10 remove the drug from the market. But here again, I
11 think the important thing is to understand why
12 because, here again, there are many drugs that have
13 the probability, when one takes a look at the upper
14 limit, to have a detrimental effect, but are we
15 actually seeing a detrimental effect? We've had
16 these discussions before with sponsors.

17 DR. HALABI: Thank you.

18 DR. HOFFMAN: Okay. I think the floor is
19 open to discussion among us, and I would request
20 that in the course of the discussion that we not
21 indicate our specific vote. We'll put that off
22 until the actual voting time.

1 Please, Dr. Szabo?

2 DR. SZABO: Eva Szabo, NCI. Can I just
3 follow up on that? If OS is 1, so no evidence
4 of -- with survival, drug stays on the market, or
5 the drugs?

6 DR. PAZDUR: Yes. This is a discussion
7 question I think we have, and this is the, the
8 essential question here. And that is, this 7-month
9 difference in progression-free survival, does this
10 represent a benefit to patients?

11 I was thinking about this as I was sitting
12 here, and it drew me to the discussion that we had
13 in 2008. There was a big meeting in this room
14 about Avastin plus Taxol, versus Taxol, and our
15 public statement at that time -- and this was a
16 hearing to withdraw the drug, and we were quite
17 clear on our position on this, which is not that
18 different from what we're talking about here.
19 There are some differences, but not that different.

20 We were not expecting an improvement in
21 overall survival. We were not demanding an
22 improvement in overall survival. But what we were

1 asking for was an improvement in progression-free
2 survival of more than 5 months. Guess what these
3 show? Just bringing that up, because we have to
4 put this, obviously, in the context of other
5 approvals and other discussions that we have. If
6 we decide not to approve this drug or approve it,
7 we have to put it in the context of other drug
8 approvals.

9 But I just wanted to give that historical
10 perspective because there was a great deal of
11 notoriety around that and the discussion of
12 progression-free survival as an independent
13 endpoint irrespective of an improvement in overall
14 survival.

15 Again, if you take a look at slide 20, which
16 I think is a very telling slide here, we have many
17 drugs that were approved without a survival
18 advantage. But I think any lung cancer expert, or
19 anybody that treats this disease with EGFR
20 mutations, will recognize that even though all of
21 these drugs were approved on the basis of a
22 non-survival endpoint, survival has definitely been

1 increased for patients with this disease.

2 We have seen this in multiple other diseases
3 such as multiple myeloma, where we have almost 10
4 drugs approved, where the overall survival are
5 based on progression-free survival and where the
6 improvement in overall survival has dramatically
7 been improved in that disease, from 2 years to
8 almost 10 years. So I think we have to be
9 realistic of where we are with other disease
10 approvals, and that's the point I want to make
11 through these comments.

12 DR. SZABO: So just so that I understand a
13 little bit better, if we had the mature OS data
14 today and it was a hazard ratio of 1, we do have
15 osimertinib, which compared to erlotinib was
16 better, we'd be having a different conversation
17 today then. Is that correct or would we still say
18 PFS improved?

19 If we, let's say, assume that we think that
20 the benefit-risk ratio is okay, but it's not
21 better --

22 DR. PAZDUR: We have to judge each

1 application on its own basis. As I said before,
2 for full approval of a drug, you have to
3 demonstrate safety and efficacy of that drug --

4 DR. SZABO: Okay.

5 DR. PAZDUR: -- not that it's better than a
6 previously approved drug or something that wasn't
7 even approved. In other therapeutic areas where
8 you could use placebos, they just do
9 placebo-controlled trials. For example, in the
10 study of headaches, et cetera. This would not be
11 ethical in, obviously, life-threatening diseases.

12 DR. HOFFMAN: Dr. Cheng?

13 DR. CHENG: John Cheng, industry rep. I do
14 appreciate the FDA framing the discussion of a
15 study where there's a PFS advantage, but the OS is
16 probably underpowered because at the time of this
17 study, the standard of care, it keeps evolving. So
18 this is an important discussion, and I appreciate
19 the FDA framing it on PFS and the mutation.

20 I guess my question, just in general, is
21 that the FDA has approved a number of agents with
22 PFS as the primary endpoint, particularly when the

1 first-line metastatic OS is very difficult or very
2 long. In this situation with first-line
3 EGFR-mutant patients, where the overall survival
4 seems well over 4 years and might be even longer,
5 is it that different from the other kind of
6 first-line indications that have been approved with
7 PFS, whether it's renal, or breast cancer, or other
8 things like that?

9 Regardless of a mutation or not, is the
10 disease longevity, where you have a long overall
11 survival, where you're not easily able to power it
12 and you have subsequent therapy that confounds OS,
13 are they not more similar than dissimilar?

14 DR. PAZDUR: I think that's one of the
15 reasons why we brought this forward for discussion
16 because there was some disagreement among the staff
17 on this, to be honest. There are many reasons why
18 you cannot demonstrate overall survival, and we
19 have written extensively about this, one being the
20 long natural history of the disease; for example,
21 CLL diseases where you have long histories because
22 of therapeutic advances such as multiple myeloma

1 where there isn't equipoise. That was present when
2 we first saw these TKIs because they had such
3 higher response rates than conventional
4 chemotherapy. You couldn't not allow crossover,
5 which would confound overall survival, so we were
6 forced to really accept PFS in this setting.

7 Then in situations, basically, where you
8 just have very small numbers of patients, we're
9 seeing that, obviously, in increasing numbers in
10 lung cancer with the segmentation of disease, and
11 to ROS1 mutations, and to BRAF mutations, MET
12 mutations, and RET mutations, et cetera, where
13 randomized studies probably cannot be done,
14 certainly not randomized survival studies.

15 So there are many reasons not to look at
16 overall survival. Here again, we want to make sure
17 we're not having a detriment in overall survival.
18 We always take a look at overall survival. Even in
19 single-arm trials, we'll take a look and try to
20 compare it, cognizant of the effect of cross-study
21 comparisons to make sure we're not having a loss in
22 overall survival.

1 DR. HOFFMAN: Dr. Malik?

2 DR. MALIK: I think that one of the things,
3 we had a slide from the FDA where you have all
4 first-line therapies, and out of all the therapies
5 that have shown PFS improvement for which they were
6 approved, the OS was not shown to be improved, but
7 there was no detrimental effect. Most of them, the
8 patients did receive second-line targeted therapy,
9 and that's why it was thought why they did not show
10 an OS benefit.

11 But in this trial, that's not the case. In
12 this trial, that is not a benefit that you can see
13 later on with subsequent therapy. So really, I'm
14 still struggling with whether these patients will
15 do better with osimertinib as a first line or this
16 combination.

17 My other thought process is that when you
18 are looking at the targeted therapies, I don't
19 recall, but please remind me if I forgot, that most
20 of these trials -- none of these trials had
21 increased toxicity when you used targeted therapy
22 compared to either placebo or chemotherapy.

1 Compared to this trial, where we are seeing not
2 only an increase in toxicity, having to use 3 drugs
3 for hypertension control in 22 percent of the cases
4 and 6 patients who we can't be sure whether they
5 die from the therapy, it is of concern to me.

6 Really, the osimertinib would be the drug
7 that you could compare this to, but having that and
8 not knowing that -- and none of the drugs that were
9 approved by the FDA, that I remember, showed no
10 improvement in quality of life. In this drug,
11 although FDA says that the quality of life was not
12 tested the way that it should have been, still
13 there was no difference in quality of life, so
14 there was no improvement.

15 What we know clinically, if the patient has
16 EGFR mutation and you give them the drug, they
17 actually improve in their shortness of breath and
18 they improve their coughing, but none of that was
19 noted in this trial. So that's what I remember,
20 that none of them had a detrimental effect on
21 either quality of life or in the OS at the time of
22 approval. So I'm assuming that that was correct.

1 Yes?

2 DR. PAZDUR: I believe so [off mic].

3 DR. HOFFMAN: Dr. Hinrichs?

4 DR. HINRICHS: This is just something to
5 discuss among the committee. This is a little bit
6 more complicated than it seems. We're being
7 directed to answer the supposedly simple question
8 of whether the drug is safe and effective. The
9 issue, though, is not just whether the drug is safe
10 and effective in terms of the impact on patients,
11 but whether it's going to be used in a way that's
12 safe and effective and will benefit patients.

13 It's clear from the applicant's presentation
14 that the intent is for this to be given as a
15 first-line therapy that's not clearly more
16 effective than osimertinib and that actually may be
17 less effective. Also, it may well be more toxic
18 and more difficult to administer than osimertinib,
19 so that we can then give osimertinib later to an
20 unknown fraction of the patients who emerge with
21 T790M-positive tumors, where the other half of the
22 patients then actually never get the osimertinib

1 and only experience the more toxic -- well, we
2 don't have these things compared head to head, but
3 the possibly more toxic and the possibly less
4 effective combination of Cyramza and erlotinib.

5 Does that make sense? I'm concerned that an
6 approval will set up a pattern of practice that
7 actually is not supported by the data that's
8 presented and will do harm to the patients.

9 DR. HOFFMAN: Dr. Klepin?

10 DR. KLEPIN: Thanks. Heidi Klepin. I have
11 a question that is probably difficult to answer and
12 then a comment. I think the question is we're
13 following up on this issue of how concerned should
14 we be about the potential detrimental effect on
15 survival based on what we know so far, looking at
16 the available survival data.

17 I'm looking at Susan because I'm trying to
18 figure out if our statistician on the committee
19 could provide any additional input around --

20 DR. PAZDUR: We have additional survival
21 analysis.

22 DR. SINGH: We do. We can look at backup

1 slide 11. The FDA conducted our own modeling
2 predictions in terms of survival, and certainly
3 they do vary widely from the sponsor's predictions,
4 based on the estimates that were used.

5 I'll invite our statistical colleagues to
6 comment on this. You can use conservative versus
7 liberal assumptions, and ultimately, as it shows on
8 the bottom of the slide, the only really reliable
9 way to assess the effect on overall survival is
10 additional follow-up. But as mentioned, certainly
11 if the ultimate survival results do show a
12 detriment in survival, we would be compelled to
13 review that data and possibly pull the indication,
14 and likely pull the indication.

15 DR. KLEPIN: But my interpretation of the
16 top bulleted point there is that the point
17 estimates could range from 30 percent to 63
18 percent, coin flip --

19 DR. SINGH: Correct.

20 DR. KLEPIN: -- kind of, somewhere. Okay.
21 Thank you.

22 So that addresses that question, and then my

1 comment is just around the quality-of-life data
2 that we've talked about. There are limitations, of
3 course, to the quality-of-life data, as has already
4 been mentioned, and we don't see a clear signal of
5 improvement in quality of life for reasons that
6 were discussed.

7 I think the other comment that I just wanted
8 to make that throws another complicating factor
9 into that is if you look at the study design, and
10 we think about comparing quality of life across
11 arms, both arms of the trial, because of the
12 placebo design, were coming in every 2 weeks
13 getting IV infusions for over a year.

14 So you're comparing a strategy that would not
15 really be the alternate. The alternate strategy is
16 being on an oral medication and not coming in and
17 getting an infusion every 2 weeks.

18 So understanding what the real
19 quality-of-life difference is, given all the
20 limitations of just surveys and PROs, the study
21 design complicates that. So potentially it would
22 be, potentially, a bigger detriment in quality of

1 life than what we could ever assess from this study
2 design.

3 DR. HOFFMAN: Dr. Deeken?

4 DR. DEEKEN: Thank you. John Deeken from
5 Inova Health. I'm struggling with a couple of
6 questions, one of which is the difference between
7 molecular-targeted agents and activated mutation
8 targeting. Ramucirumab has a targeted VEGF, and we
9 know from previous trials that erlotinib plus
10 another VEGF inhibitor might show progression-free
11 but not overall survival at the end of the day.

12 I'm also sympathetic, though, that this
13 trial was designed in 2014, and PFS was a
14 meaningful target at that point. The world has
15 changed since 6 years. If I could ask for a
16 hypothetical, if you had this conversation today
17 for a planned phase 3 targeted therapy, maybe not
18 activating mutation, is PFS still a meaningful
19 primary endpoint that you'd encourage a sponsor to
20 go after, or is overall survival now what you
21 really encourage them to have as their primary
22 endpoint?

1 DR. SINGH: I think we're flexible. We've,
2 again, demonstrated in multiple tumor types,
3 including breast, GU, hematologic malignancies,
4 that we accept progression-free survival as a
5 meaningful clinical endpoint. I think depending on
6 the agents used in this proposed trial, we would
7 consider PFS as an endpoint certainly for targeted
8 therapies.

9 Now, I take your point that we have said
10 that ramucirumab is not a targeted agent, but to
11 the sponsor's point, there is this synergistic
12 activity, and there is a great deal of study
13 combining VEGF with targeted therapy. So I think
14 it really depends on the agents used in the trial
15 design, but in a broader scope, certainly PFS is an
16 acceptable endpoint.

17 DR. DEEKEN: What about for non-small cell
18 lung cancer in 2020; would that be a meaningful --

19 DR. SINGH: So as of today, all of the
20 approvals in first-line non-small cell lung cancer
21 that are not targeted therapies, which have been
22 immunotherapy, ramucirumab-docetaxel in the second

1 line, and necitumumab, those trials were conducted
2 with OS as a primary endpoint. That is unique to
3 non-small cell lung cancer and, again, certainly
4 this has been broached in other tumor types.

5 DR. HOFFMAN: Dr. Garcia?

6 DR. GARCIA: So I appreciate FDA, again, how
7 you guys frame the hazard ratio, specifically to
8 slide 20. I initially was not that concerned about
9 PFS and the hazard ratio for survival, but hearing
10 you right now, it makes me wonder if, with the data
11 that we have seen today, I can discern if the
12 hazard ratio on the upper confidence interval is
13 related to toxicity, and therefore causing
14 detriment.

15 That is what I'm struggling right now; is it
16 those patients who die on therapy or perhaps with
17 longer follow-up, we're going to see more deaths?
18 If indeed, if there was data that we can use to
19 discern that, I think, obviously, it would be
20 easier. But I don't think we have that data, and
21 that's what I'm trying to figure out.

22 Obviously, it's an unfair comparison

1 compared with the EGFR TKIs from the past. When
2 you have hazard ratios that can go up to 1.17
3 1.68 [indiscernible], and 1.35, clearly there was
4 no detrimental outcome because the side effect
5 profile was quite different. So to some extent, I
6 think it's unfair to compare an antibody against
7 KDR compared with an EGFR inhibitor in that
8 context. But I still haven't seen the data as to
9 whether or not the toxicity profile has really
10 actually been the cause of the detrimental effect
11 and we may be seeing with survival.

12 DR. HOFFMAN: Dr. Cristofanilli?

13 DR. CRISTOFANILLI: Massimo Cristofanilli.

14 I share some of the concern, but I want to make
15 sure that we don't compare cross-studies in terms
16 of progression-free survival improvement. The
17 reality is the patients have changed. We can see,
18 if you were to do this comparison, that the
19 progression-free survival for this group just on
20 the control is approximately 11 months, and that's
21 pretty significant.

22 So if that's the case, 7 months improvement

1 in a population that is very well screened,
2 monitored, et cetera, with all the data that we've
3 seen, I think is relevant.

4 The other issue regarding the survival, if
5 we're talking about toxicity -- and we look at
6 survival affected by this, 6 deaths, 2 of them
7 probably related to drug, from now on technically
8 we should start to see death related to the disease
9 progression. So that will be the true reading of
10 what the overall survival impact will be on the
11 treatment.

12 So maybe this is an earlier reading for a
13 disease that has a long overall survival and for
14 which we just see some of the patients, for which
15 the toxicity is and what's going to happen no
16 matter what, and being related to the disease.

17 So I just want to make sure we put it in the
18 right context as we look at this study because
19 maybe having another option for these patients, as
20 every treatment is not for everyone, could be
21 something that might allow for prolongation of
22 survival. I think it would be important to see, at

1 some point, what osimertinib, after this first
2 line, will look like to see if, really, we can put
3 this in the context of a sequence. Unfortunately,
4 the way we develop drugs is not in an sequence;
5 it's one point at a time.

6 DR. HOFFMAN: Dr. Hawkins?

7 DR. HAWKINS: Randy Hawkins, internal
8 medicine. I appreciate the conversation around the
9 table. I do believe that the quality-of-life
10 question is done well or very, very important.

11 One conclusion I've come to after coming to
12 the meetings as a consumer rep, and one of my other
13 jobs on the medical board of California and a
14 consumer rep in California, is that although
15 there's a bias towards folks who tend to come to
16 the meetings and represent a product that they want
17 to have available to them, although I'd like to
18 feel that I could put myself in their place
19 relative to toxicity and tolerance, I really can't.

20 It appears that many of the people, although
21 there's a bias, want to have an option, want to
22 have the ability to have something that makes a

1 difference to them while something else didn't, or
2 something didn't work for someone they know or that
3 they've talked to, whoever, with a similar
4 diagnosis.

5 So I'm just making a statement that I think
6 that it's important to focus on the question and
7 realize that we may not be able to answer all the
8 nuances as it relates to the product
9 [indiscernible]. And I hope I'm not too confusing,
10 but I'm going to stop there.

11 DR. HOFFMAN: I think we're ready to move on
12 to the vote process. I think we've heard many
13 comments and concerns about the fact that, again,
14 we're dealing with progression-free survival rather
15 than overall survival, though I think we've
16 addressed that in some detail.

17 Concerns about the toxicities have been
18 raised, the concerns about the sequence of where
19 this would fit, though I don't think that's
20 particularly our purview necessarily. I think our
21 charge is to look at this data and decide if we
22 believe that the benefits and the risk profile here

1 warrant approval.

2 We'll be using an electronic voting system
3 for this meeting, and once we have the votes, the
4 buttons will flash. You'll enter your vote and
5 press the button firmly that corresponds to your
6 vote. If you are unsure of your vote or you wish
7 to change your vote, you may press the
8 corresponding button until the vote is closed.

9 After everyone has completed their vote, the
10 vote will be locked in. The vote will then be
11 displayed on the screen. The DFO will read the
12 vote from the screen into the record, and next
13 we'll go around the room, and each individual who
14 voted will state their name and their vote into the
15 record. You may also state the reason why you
16 voted as you did if you want to.

17 For the record, I'll just read the question
18 that is before us. Is the benefit-risk profile of
19 ramucirumab plus erlotinib favorable for patients
20 with untreated metastatic EGFR-positive non-small
21 cell lung cancer?

22 Please press the button on your microphone

1 that corresponds to your vote. You'll have
2 approximately 20 seconds. Press the button firmly.

3 (Voting.)

4 DR. HOTAKI: For the record, the vote is 6
5 yes; 5 no; and zero abstentions.

6 DR. HOFFMAN: Now that the vote is complete,
7 we'll go around the table and have everyone who
8 voted state their name, vote, and if you want to,
9 you can state the reason why you voted as you did
10 into the record.

11 Dr. Szabo?

12 DR. SZABO: Evan Szabo, NCI. I voted yes.
13 The reason I voted yes was that although the side
14 effect profile I think is more severe than
15 erlotinib alone, and maybe other TKIs -- probably
16 other TKIs -- I was convinced by Dr. Pazdur's
17 framing of the question as not a comparison but as
18 an independent drug strategy.

19 I think it is a feasible drug strategy.
20 Now, many people may choose to use it or not use
21 it, but it's another option. The 7-month PFS is
22 fairly impressive, so I think it's an option that

1 some people could potentially take.

2 DR. DEEKEN: John Deeken, medical oncology.
3 I voted no. I think in 2020, it's hard to argue
4 for this disease that occurs -- I know it wasn't
5 the primary endpoint, but an overlapping,
6 completely overlapping, overall survival curve is
7 hard to argue given the toxicity that we saw. I'm
8 hopeful that the company will -- not that I'm
9 hoping the events happen more sooner, but that
10 we'll have overall survival final results sooner
11 than 2023 so that that application can be based on
12 that solid endpoint.

13 DR. MALIK: Shakun Malik, NCI. I voted no
14 for the same reasons, that there was no improvement
15 in quality of life and there was increased
16 toxicity. Although the PFS was improved, my
17 concern was also that the OS was not going in the
18 right direction. It's possible that with the time
19 and the events that it might, and I am hoping that
20 the company can come back sooner than 2023 if that
21 is the case. Thank you.

22 MR. MATSON: Tracy Matson, patient

1 representative. Earlier today, one of the
2 presenters mentioned a plan B, and when I was a
3 cancer patient, my poor oncologist, I was a plan D,
4 E, and F guy. While I'm not sure that this is a
5 massive breakthrough, I do feel that it could be an
6 important weapon in the arsenal for doctors and
7 patients to discuss.

8 I'd also like to commend the patients for
9 traveling. It's tough to fight for what you
10 believe in under these circumstances and can be
11 intimidating when you feel great. But to come
12 across the country when you're battling this
13 serious of a disease is worthy of my admiration.
14 Thanks.

15 DR. HAWKINS: Randy Hawkins. I voted yes.
16 Similar to Dr. Szabo and Mr. Matson, I agree with
17 what they said. I also thought about complication
18 and adverse events. Many of our specialists are
19 able to manage these adverse events, and patients
20 tolerate them or they don't, and they withdraw.

21 I thought about the hypertension. I take
22 care of -- probably 40 percent of my hypertensives

1 are on 3 drugs or more. But I voted yes, and I
2 thought that this deserved to be in the toolkit of
3 the oncologist who I trust and the patients who I
4 trust.

5 DR. GARCIA: Jorge Garcia. I voted no. I
6 think that with the question in mind, there is a
7 presence of already a poor survival benefit in this
8 patient population. I think moving to a proven
9 agent or a combination like this, based upon PFS
10 with immature survival data and with the AE
11 profile, I'm not sure that that's the most logical
12 step at this time.

13 DR. CRISTOFANILLI: Cristofanilli. I voted
14 yes. I think looking specifically at the study,
15 without comparing, the progression-free survival
16 endpoint was met. There is still immature data for
17 a number of other endpoints. I think this study
18 will give us a lot of information for subsequent
19 therapies and better strategies.

20 DR. HOFFMAN: Philip Hoffman. I voted yes.
21 I struggled with it, but I ultimately came down on
22 the side of yes for the strictest definition of the

1 question, which was did I believe that this was
2 safe and effective? I am a lung cancer doctor.
3 For many years, we used bevacizumab, another VEGF
4 inhibitor, which caused hypertension and we sort of
5 dealt with it. There were issues, and sometimes we
6 had to adjust.

7 I would like to hope that the proposed
8 sequence of having erlotinib and ramucirumab for a
9 period of time, and then upon progression, that
10 patients would then be able to get another
11 substantial period of benefit from osimertinib. I
12 realize we don't have that information, and that's
13 something that I hope might come along.

14 I share the concerns of many of my
15 colleagues that the hassle of coming in every
16 2 weeks and getting an infusion is not
17 inconsequential, so I don't actually know whether
18 I'll use this or not, or whether I'll use it in
19 some patients, and discuss it with patients, and so
20 on. But I do think it's reasonable to have it
21 available as an option. As others have said, it's
22 not up to me how this gets marketed, if you will.

1 DR. KLEPIN: Heidi Klepin. I voted no.
2 This was a difficult decision. As everyone pointed
3 out, the progression-free survival, it looks good
4 but, to me, that matters if we have good evidence
5 and the data that we're looking at, and that that
6 would likely translate to either improved overall
7 survival or at least not result in potential
8 detriment in survival.

9 So that's where I'm hung up with the data
10 that we have and the immature survival data, and
11 that we have some concerns, still, based on where
12 we are now, that there could potentially be an
13 overall survival detriment. So in that setting,
14 progression-free survival in my opinion is not
15 enough.

16 DR. HINRICHS: Christian Hinrichs. I voted
17 no. I agree with what Dr. Klepin said, and that is
18 that if there is an improvement in PFS but also an
19 increase in toxicity and no improvement in overall
20 survival, there are several possible explanations
21 for that, and one of them is that the drug's not
22 beneficial, and I'm not convinced that the drug is

1 beneficial.

2 The other issues I've mentioned before is
3 that I think that the thinking around whether the
4 drug is safe and effective should include
5 consideration of how the drug is actually going to
6 be employed in the clinic and whether it's going to
7 be employed in a treatment paradigm that's safe and
8 effective. We really don't have data for the
9 treatment paradigm that will be used for treating
10 patients with this combination of drugs, and then
11 in some cases followed by another drug. That just
12 simply wasn't studied.

13 Along those lines, I would encourage the
14 FDA, in designing trials with applicants, to
15 consider how the drug is actually going to be
16 deployed in the clinic, in clinical practice, and
17 make sure to design the trials in a way where we
18 can assess if the drug is actually going to be
19 useful.

20 DR. HALABI: Susan Halabi, statistician. I
21 voted yes, and the reason why I voted yes is
22 because PFS is a legitimate endpoint. I think it's

1 very difficult to do an OS trial, a trial with a
2 primary endpoint of OS. Even though the projected
3 probability did show that it's likely, the hazard
4 ratio with a 95 percent confidence interval even
5 higher than 1, as a statistician, we can never
6 underplay the role of chance, so it may be or it
7 may not. At the end of the day, it will be the
8 task for the FDA, if worst things happen, to pull
9 the drug off the market.

10 DR. HOFFMAN: I appreciate everyone's
11 comments. I think the people that voted yes, to
12 summarize, felt that this was a feasible
13 combination to add to our armamentarium, that the
14 progression-free survival was indeed a worthwhile
15 difference. Again, it's another weapon to have
16 available, and the safety appears to be tolerable.

17 The people that voted no are concerned about
18 the lack, at this point, of overall survival
19 information, and particularly the possibility that
20 overall survival might be reduced with this
21 combination yet to be determined; that there does
22 not appear to be an improvement in quality of life;

1 that there is significant toxicity for some
2 patients; and that that made it not something that
3 they could approve.

4 Dr. Pazdur, do you have any other comments,
5 now that we've made your decision so simple?

6 DR. PAZDUR: This reflects our own internal
7 discussions here. I had to laugh because we had
8 many of these same discussions internally, and I
9 guess hearing them from an external committee just
10 shows that we're in tune with the outside world, so
11 to speak --

12 (Laughter.)

13 DR. PAZDUR: -- in our own little cocoon
14 here. Thank you.

15 **Adjournment**

16 DR. HOFFMAN: Okay. I apologize that we're a
17 few minutes early --

18 (Laughter.)

19 DR. HOFFMAN: -- but we will now adjourn
20 this meeting. Panel members, please leave your
21 name badges on the table so that they can be
22 recycled, and be sure to take all your personal

1 belongings as the room is going be cleaned at the
2 end of the day. You may leave your meeting
3 materials on the table if you want them to be
4 disposed of. Thank you.

5 (Whereupon, at 4:48 p.m., the afternoon
6 session was adjourned.)

7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22