

FOOD AND DRUG ADMINISTRATION (FDA)
Center for Biologics Evaluation and Research (CBER)
159th Vaccines and Related Biological Products Advisory
Committee (VRBPAC) Meeting

TOPIC I OPEN SESSION

FDA White Oak Campus
Great Room, Salon B & C
Silver Spring, MD 20903

March 4, 2020

This transcript appears as received from the commercial transcribing service after inclusion of minor corrections to typographical and factual errors recommended by the DFO.

ATTENDEES

Hana El Sahly, M.D.	Baylor College of Medicine
Paula Annunziato, M.D.	Merck
Tammy Beckham, D.V.M., Ph.D.	Department of Health and Human Services
Archana Chatterjee, M.D., Ph.D.	University of South Dakota
Hayley Gans, M.D.	Stanford University Medical Center
Holly Janes, Ph.D.	Fred Hutchinson Cancer Research Center
Michael Kurilla, M.D., Ph.D.	National Institutes of Health
Myron Levine, M.D., D.T.P.H., F.A.A.P.	University of Maryland School of Medicine
H. Cody Meissner, M.D.	Tufts University School of Medicine
Paul Offitt, M.D.	The Children's Hospital of Philadelphia
Andrea Shane, M.D., M.P.H., M.Sc.	Emory University School of Medicine
Paul Spearman, M.D.	University of Cincinnati School of Medicine
Geeta K. Swamy, M.D.	Duke University
Sheldon Toubman, J.D.	New Haven Legal Assistance Association
Jack Bennink, Ph.D.	National Institutes of Health
Melinda Wharton, M.D., M.P.H.	Centers for Disease Control and Prevention
Col. Andrew Wiesen, M.D., M.P.H.	Office of the Assistant Secretary of Defense
David Wentworth, Ph.D.	Centers for Disease Control and Prevention
Capt. Lisa Grohskopf, M.D., M.P.H.	Centers for Disease Control and Prevention

CDR Mark Scheckelhoff, Ph.D., M.P.H.	Armed Forces Health Surveillance Branch
Penny Post, Ph.D.	A Sonofi Company
Carolyn Wilson, Ph.D.	Food and Drug Administration
Marion Gruber, Ph.D.	Food and Drug Administration
Philip Krause, M.D.	Food and Drug Administration
Konstantin Chumakov, Ph.D.	Food and Drug Administration
Jerry Weir	Food and Drug Administration
CDR Valerie Marshall, M.P.H., P.M.P.	Food and Drug Administration
Zhiping Ye, M.D., Ph.D.	Food and Drug Administration
Manju Joshi, Ph.D.	Food and Drug Administration
Anissa Cheung, M.Sc	Food and Drug Administration
Jay Slater, Ph.D.	Food and Drug Administration
Drusilla Burns, Ph.D.	Food and Drug Administration
Michael Schmitt, Ph.D.	Food and Drug Administration
Kathleen Hayes, M.P.H.	Food and Drug Administration
Monique Hill, M.H.A.	Food and Drug Administration
Prabhakara Atreya, Ph.D.	Food and Drug Administration

TABLE OF CONTENTS

OPENING REMARKS: CALL TO ORDER, INTRO OF COMMITTEE	5
ADMINISTRATIVE ANNOUNCEMENTS, CONFLICT OF INTEREST STATEMENT	9
FDA INTRODUCTION	18
U.S. SURVEILLANCE.....	26
GLOBAL INFLUENZA VIRUS SURVEILLANCE AND CHARACTERIZATION	43
DOD VACCINE EFFECTIVENESS REPORT	101
QUESTIONS AND ANSWERS.....	125
CANDIDATE VACCINE STRAINS & POTENCY REAGENTS	130
COMMENTS FROM MANUFACTURER REPRESENTATIVES.....	140
QUESTIONS AND ANSWERS.....	154
OPEN PUBLIC HEARING	158
COMMITTEE DISCUSSION, RECOMMENDATIONS, AND VOTE	159

1 **OPENING REMARKS: CALL TO ORDER, INTRO OF COMMITTEE**

2

3 **DR. EL SAHLY:** Good morning. Welcome to the
4 159th meeting of the Vaccine and Related Biological
5 Products Advisory Committee meeting at the FDA. I
6 welcome the members of the committee, the audience here
7 with us, and the audience on the webcast. Before we
8 begin this meeting, I will ask everyone to introduce
9 themselves, their affiliation, and their expertise.
10 Begin on the far end.

11 **DR. ANNUNZIATO:** Thank you. Hi, I'm Paula
12 Annunziato. I'm with Merck, and I am in our vaccine
13 clinical research group.

14 **DR. BENNINK:** I'm Jack Bennink. I'm with the
15 National Institutes of Health, National Institute of
16 Allergy, Infectious Diseases, and I'm a viral
17 immunologist.

18 **COL. WIESEN:** Andrew Wiesen. I'm with the
19 Department of Defense Health Affairs, preventive
20 medicine, public health physician by training.

1 **DR. WENTWORTH:** David Wentworth. I'm the
2 Director of the WHO Collaborating Center in Atlanta,
3 Georgia, at the CDC.

4 **DR. BECKHAM:** There we go. Hi, I'm Tammy
5 Beckham. I'm with the Office of Infectious Disease and
6 HIV/AIDS Policy and Office of the Assistant Secretary
7 for Health. I'm a DVM and specialty infectious
8 diseases.

9 **DR. CHATTERJEE:** Good morning everyone. I'm
10 Archana Chatterjee. I'm Chair of the Department of
11 Pediatrics and Senior Associate Dean for Faculty
12 Development at the University of South Dakota, Sanford
13 School of Medicine. I'm a pediatric ID specialist.

14 **DR. GANS:** Good morning. I'm Hayley Gans from
15 Stanford University Medical Center, Pediatric
16 Infectious Disease, and I work on host pathogen
17 interface related to vaccines.

18 **DR. SPEARMAN:** Hi, I'm Paul Spearman. I'm
19 Director of Infectious Disease at Cincinnati Children's
20 Hospital. My expertise is virology and vaccine

1 clinical trials.

2 **OPERATOR:** If anybody on the phone can make
3 sure that we mute our phones.

4 **DR. EL SAHLY:** For the webcast audience or the
5 phone audience, please mute your phones.

6 **DR. LEVINE:** Okay. Good morning everyone.
7 Mike Levine. I'm from the University of Maryland
8 School of Medicine where I'm the Associate Dean for
9 Global Health, Vaccinology, and Infectious Diseases,
10 boarded in pediatrics and preventive medicine.

11 **DR. SWAMY:** Good morning. I'm Geeta Swamy,
12 I'm Associate Professor of OB/GYN at Duke University
13 and do research in maternal immunization and pregnant
14 and perinatal infectious disease.

15 **DR. EL SAHLY:** Hana El Sahly, Baylor College
16 of Medicine, board certified in adult infectious
17 diseases and I work on clinical vaccine development.
18 Again, please mute your phone if you are on the line.

19 **MS. HAYES:** Kathleen Hayes, Division of
20 Scientific Advisors and Consultants.

1 **UNIDENTIFIED FEMALE:** Mr. Toubman. Mr.
2 Toubman, if you could please mute your phone.

3 **DR. EL SAHLY:** Can we -- can we remove them
4 from here? If we can cut them off. Thank you.

5 **UNIDENTIFIED MALE:** Thank you.

6 **MS. HAYES:** Good morning everyone. My name's
7 Kathleen Hayes. I'm with the FDA Division of
8 Scientific Advisors and Consultants.

9 **DR. KURILLA:** Mike Kurilla. I'm with the
10 National Institutes of Health at the National Center
11 for Advancing Translational Science, Infectious
12 Disease, Infectious Disease Product Development, and my
13 training is in pathology.

14 **DR. MEISSNER:** Good morning. My name's Cody
15 Meissner. I'm from Tufts University School of Medicine
16 in Boston, and I have an interest in pediatric
17 infectious disease and immunizations.

18 **DR. OFFIT:** My name's Paul Offit from the
19 Children's Hospital of Philadelphia and University of
20 Pennsylvania School of Medicine. I'm in the Division

1 of Pediatric Infectious Disease with an expertise in
2 vaccines.

3 **DR. SHANE:** Good morning. I'm Andrea, Andy
4 Shane. I'm at Emory University and Children's
5 Healthcare of Atlanta in Atlanta, Georgia. I'm a
6 pediatric infectious disease physician with an interest
7 in vaccines and neonates. Thank you.

8 **DR. WEIR:** Jerry Weir. I'm the Director of
9 the Division of Viral Products at CBER FDA.

10 **DR. GRUBER:** Good morning. My name is Marion
11 Gruber. I'm the Director of the Office of Vaccines,
12 Research, and Review at FDA.

13 **DR. EL SAHLY:** Do we have someone on the phone
14 who needs to introduce themselves? Anyone on the phone
15 remaining? Okay. All right. Maybe later. For now,
16 Kathleen will read the conflict of interest statement
17 and some housekeeping items for the meeting.

18

19 **ADMINISTRATIVE ANNOUNCEMENTS, CONFLICT OF INTEREST**

1 were described in the federal register notice that was
2 published on January 6th of 2020.

3 I would like to acknowledge that the press is
4 in attendance here today. Megan McSeveney, if you
5 could please stand up so we can identify you, please.
6 Thank you. And the transcriptionist here today is
7 Devin Shiple.

8 Before we begin with reading the conflict of
9 interest statement, I would just like to briefly
10 mention a few housekeeping items. To start with, if
11 everyone could please ensure that your cell phones are
12 on mute or silent, that would be appreciated. Also, as
13 we are deliberating throughout the day, if you could
14 clearly speak into the microphone and begin by stating
15 your name; that way we can accurately record the
16 comments for this meeting. For those participating
17 remotely, if you could also please ensure that your
18 microphones are on mute unless you are speaking, this
19 will help to avoid feedback in the room. I will now
20 proceed with reading the conflict of interest

1 statement.

2 The Food and Drug Administration is convening
3 today, March 4th, 2020, for the 159th meeting of the
4 Vaccines and Related Biological Products Advisory
5 Committee under the authority of the Federal Advisory
6 Committee Act of 1972. Dr. Hana El Sahly is serving as
7 the Chair for this meeting for both topic one and topic
8 two. The meeting today will have two conflict of
9 interest disclosure statements read prior to each topic
10 session that will occur during the meeting today.

11 In the morning on March 4th, 2020, VRBPAC will
12 meet in open session to discuss and make
13 recommendations on the selection of strains to be
14 included in the influenza virus vaccine for the 2020
15 northern hemisphere influenza season. This topic has
16 been determined to be a particular matter involving
17 specific parties. Related to the discussions at this
18 meeting, all members and SGE consultants of this
19 committee have been screened for potential financial
20 conflict of interest of their own, as well as those

1 imputed to them including those of their spouse or
2 minor children, and for the purposes of 18 U.S. Code
3 208, their employers.

4 These interests may include investments,
5 consulting, expert witness testimony, contracts and
6 grants, cooperative research and development
7 agreements, teaching, speaking, writing, patents, and
8 royalties, and primary employment. FDA has determined
9 that all members of this advisory committee are in
10 compliance with federal ethics and conflict of interest
11 laws. Under 18 U.S. Code 208, Congress has authorized
12 FDA to grant waivers to special government employees
13 and regular government employees who have financial
14 conflicts when it is determined that the Agency's need
15 for a particular individual service outweighs his or
16 her potential financial conflict of interest.

17 However, based on today's agenda and all
18 financial interests reported by members and
19 consultants, no conflict of interest waivers were
20 issued under 18 U.S. Code 208. Dr. Paula Annunziato is

1 currently serving as the industry representative to
2 this committee. Dr. Annunziato is employed by Merck.
3 Industry representatives act on behalf of all related
4 industry and bring general industry perspective to the
5 committee. However, industry representatives are not
6 appointed as special government employees and serve as
7 nonvoting members of the committee. They are not
8 authorized to attend any closed sessions, if held.

9 Dr. Penny Post is currently serving as the
10 manufacturer's representative speaker to this meeting.
11 Dr. Post is employed by Sanofi Pasteur. Manufacturer
12 representative speakers may make a presentation on
13 behalf of all related vaccine manufacturing industry
14 and bring their perspective to the committee.

15 Mr. Sheldon Toubman is serving as the consumer
16 representative for this committee. Consumer
17 representatives are appointed special government
18 employees and are screened and cleared prior to their
19 participation in the meeting. They are voting members
20 of the committee and hence do have voting privileges,

1 and they are authorized to participate in the closed
2 sessions, if held.

3 The following are serving as the temporary
4 voting or nonvoting members of this committee. Dr.
5 Jack Bennink is employed by the National Institutes of
6 Health and serves as the chief of the viral immunology
7 section in the National Institute of Allergy and
8 Infectious Diseases. Dr. Bennink is a regular
9 government employee whose major area of research
10 includes the interaction between host immunity and
11 viruses, influenza virus evolution, and the cellular
12 processing and presentation of viral antigens.

13 Colonel Andrew Wiesen serves as the Director
14 for Preventative Medicine in the Office of the
15 Assistant Secretary of Defense for Health Affairs,
16 Health Readiness Policy and Oversight. As part of his
17 government duties, he also currently serves as an
18 assistant professor of epidemiology and primary
19 preventive medicine and biostatistics at the Uniform
20 Services University of Health Sciences in Bethesda,

1 Maryland. Colonel Wiesen is also a consultant to the
2 Army Surgeon General.

3 Dr. David Wentworth is employed by the Centers
4 for Disease Control and Prevention and serves as the
5 Chief of the Virology Surveillance and Diagnosis Branch
6 and Influenza Division. He is an internationally known
7 expert in influenza virus epidemiology, worldwide
8 influenza disease burden, and influenza virus vaccines.
9 Dr. Wentworth is a regular government employee and
10 serves as a speaker for this meeting under topic one.
11 He is also serving as a temporary non-voting member
12 under topic one.

13 The following are serving as speakers at this
14 meeting. Dr. Mark Scheckelhoff currently serves as the
15 director of the laboratory operations in the U.S.
16 Public Health Service. He is an internationally known
17 expert in infectious diseases. Dr. Scheckelhoff is a
18 Commander in the United States Public Health Service
19 and serves as the Department of Defense speaker for
20 this meeting under topic one.

1 Dr. Lisa Grohskopf is employed by the Center
2 for Disease Control and Prevention, Influenza Division.
3 Dr. Grohskopf is a subject matter expert on influenza
4 and influenza vaccine. Her primary work is with the
5 Advisory Committee on Immunization Practice, ACIP, and,
6 in this capacity, she periodically communicates with
7 the vaccine manufacturers to the extent needed to keep
8 the ACIP informed of matters that are important to the
9 development of vaccine policy. Dr. Grohskopf will serve
10 as a speaker for this meeting under topic one.

11 At this meeting, there may be regulated
12 industry speakers and other outside organization
13 speakers making presentations. These participants may
14 have financial interests associated with their employer
15 and with other regulated firms. The FDA asks in the
16 interest of fairness that they address any current or
17 previous financial involvement with any firm whose
18 product they may wish to comment upon. These
19 individuals were not screened by the FDA for conflict
20 of interest.

1 **DR. EL SAHLY:** Thank you, Kathleen. The
2 introduction of this meeting will be given by Anissa
3 Chueng who is the regulatory coordinator at the
4 Division of Viral Products at the FDA. Ms. Chueng?

5 **MS. CHUENG:** Good morning. I'm going to give
6 the introductions on today's VRBPAC discussions on the
7 influenza virus vaccine strain selections for the 2020-
8 2021 northern hemisphere. So the purpose of today's
9 meetings is having the committee to discuss and to
10 review the influenza surveillance and epidemiology
11 data, genetics and antigenic characteristics of the
12 recent circulating viruses, serological responses to
13 current vaccines, and the availability of the candidate
14 vaccine strains and reagents. And at the end of the
15 presentations, the committee will be asked to make
16 recommendations for the strain of the influenza A, H1N1
17 and H3N2 and the B viruses to be included in the 2020
18 and 2021 influenza vaccines licensed for use in the
19 United States.

20 You will hear several presentations today.

1 The types of analysis that are used for vaccine strain
2 selections include the epidemiology of the circulating
3 strains. CDC will give a presentation on the
4 surveillance data from both the U.S. and around the
5 world. You will also hear a couple talks on antigenic
6 relationships among the contemporary viruses and the
7 candidate's vaccine strain.

8 The CDC and also the Department of Defense
9 will give this talk. The analytical assays and tools
10 that are used to generate this data includes the
11 hemagglutinations inhibition test using the post-
12 infections ferret sera. The same test will also be
13 used to test on the sera obtained from humans who have
14 received recent influenza vaccines. You will hear data
15 on the virus utilization test, antigenic cartography,
16 as well as the phylogenetic analysis of the
17 hemagglutinins and neuraminidase genes. You will also
18 hear reports of vaccine's effectiveness.

19 So the working viral seed for the production
20 of the inactivated influenza vaccines are traditionally

1 isolated from embryonic eggs, and their antigenicity
2 are characterized by the WHO collaborating centers.
3 Starting in 2016, the use of the MDCK cell-isolated
4 candidate vaccine virus strain was approved for the
5 manufacture of Flucelvax, which is a cell-based
6 seasonal influenza vaccine. The cell isolated
7 vaccine's viruses can be derived from two approved WHO
8 collaborating centers, and they are manufacturer
9 specific.

10 The process of antigenic analysis is the same
11 as that used for the egg-isolated vaccine virus strain.
12 As you will hear from today's presentation, WHO
13 recommended vaccine strain may differ from egg-based
14 and non-egg-based vaccines. And more details regarding
15 to these recommendations will be discussed during the
16 CDC presentations. All working virus seeds are
17 approved for quality and safety by the national
18 regulatory agent authorities.

19 I would like to refresh the committee
20 regarding to the recommended influenza vaccines

1 compositions for the 2019 and 2020. So VRBPAC met
2 twice a year on the vaccine strain selections each
3 year. The first meetings met on March 6th and March
4 22nd, 2019, and the VRBPAC gave recommendations for the
5 antigenic compositions of the 2019 and 2020 influenza
6 virus vaccines in the U.S.

7 These were the recommended strains:

8 A/Brisbane/02/2018, (H1N1)pdm09-like virus and
9 A/Kansas/14/2017 (H3N2)-like virus. For the B strain
10 is a B/Colorado 06/2017-like virus which is from the
11 Victoria lineages. For the quadrivalent vaccines, it
12 contains about three viruses and a B/Phuket/3073/2013-
13 like virus which is from the Yamagata lineage.

14 And the second meeting met on October 9th,
15 2019, and, in that meeting, VRBPAC recommended the
16 antigenic compositions of influenza virus vaccine for
17 the southern hemisphere 2020. These were the
18 recommended strains: A/Brisbane/02/2018 (H1N1)pdm09-
19 like virus and A/South Australia/34/2019 (H3N2)-like
20 virus. For the B strain, a B/Washington/02/2019-like

1 virus from Victoria lineage -- for the quadrivalent
2 vaccines containing the above three viruses and a
3 B/Phuket/3073/2013-like virus from the Yamagata
4 lineage.

5 To summarize where we are right now at this
6 point, WHO met last week and made recommendations for
7 the influenza vaccine compositions for the northern
8 hemisphere 2020 and 2021. The WHO recommended the
9 following viruses be used for trivalent influenza
10 vaccines in the 2020 and 2021 northern hemisphere
11 influenza season: for influenza A H1N1 for egg-based
12 vaccines, an A/Guangdong-Maonan/SWL1536/2019 pdm09-like
13 virus; for cell or recombinant-based vaccines, an
14 A/Hawaii/70/2019 (H1N1)pdm09-like virus, a change from
15 an A/Brisbane/02/2018 (H1N1)pdm09-like virus
16 recommended from last season's recommendations; for
17 influenza A H3N2 for egg-based vaccines, an A/Hong
18 Kong/2671/2019 (H3N2)-like virus; for cell or
19 recombinant-based vaccines, an A/Hong Kong/45/2019
20 (H3N2)-like virus, a change from an A/Kansas/14/2017

1 (H3N2)-like virus from last season's vaccine
2 recommendations.

3 For the B strain in the trivalent vaccines,
4 they recommended a B/Washington/02/2019-like virus from
5 Victoria lineage, a change from a B/Colorado/06/2017-
6 like virus vaccine recommendations but had the same
7 virus vaccine recommendations for the 2020 southern
8 hemisphere. For the quadrivalent vaccines containing
9 two influenza B viruses, the WHO recommended the above
10 three viruses and a B/Phuket/3073/2013-like virus from
11 Yamagata lineages. There is no change from the 2019
12 and '20 northern hemisphere recommendations. As in the
13 previous year, national or regional control authorities
14 approved the composition and formulations of the
15 vaccines from their own countries.

16 So at the end of the presentations, the
17 committee will be asked to discuss which influenza
18 strain should be recommended for the antigenic
19 composition of the 2020 and 2021 influenza virus
20 vaccines in the U.S. And these are the options for

1 strain compositions for the 2020 and 2021 influenza
2 vaccines: for influenza A H1N1 for egg-based vaccine,
3 you can recommend an A/Guangdong-Maonan/SWL1536/2019
4 (H1N1)pdm09-like virus and for cell and recombinant-
5 based vaccines recommend an A/Hawaii/70/2019
6 (H1N1)pdm09-like virus. Or you can recommend
7 alternative H1N1 candidate vaccine viruses.

8 For influenza A H3N2, for egg-based vaccines,
9 the committee can recommend an A/Hong Kong/2671/2019
10 (H3N2)-like virus and for the cell and recombinant-
11 based vaccines recommend an A/Hong Kong/45/2019 (H3N2)-
12 like virus or recommend alternative H3N2 candidate
13 vaccine viruses. For influenza B, the committee can
14 recommend a B/Washington/02/2019-like virus from
15 Victoria lineage or recommend an alternative candidate
16 vaccine virus from the B/Victoria lineage or recommend
17 a candidate vaccine virus from the B/Yamagata lineage.
18 For the second influenza B-strain, for quadrivalent
19 vaccines, the committee can recommend inclusion of a
20 B/Phuket/3073/2013-like virus from Yamagata lineage or

1 recommend an alternative candidate vaccine virus from
2 the B/Yamagata lineage or recommend a candidate vaccine
3 virus from the B/Victoria lineage.

4 So before I finish my introductions, I would
5 like to flesh out the questions for the committee for
6 voting at the end of the meetings. As usual, we have
7 four questions and one for each strain. And all of our
8 voting are yes or no and done electronically. So this
9 is what I have and thank you.

10 **DR. EL SAHLY:** Thank you, Ms. Chueng. Anyone
11 has a question for Ms. Chueng? All right. Thank you,
12 Ms. Chueng. Next is Dr. Lisa Grohskopf who is the
13 Associate Chief for Policy and Liaison Activities,
14 Epidemiology and Prevention Branch Influenza Division
15 at the CDC. Dr. Grohskopf will review the U.S.
16 influenza surveillance for this season.

17

18

U.S. SURVEILLANCE

19

20

MS. HAYES: Dr. Grohskopf will be

1 participating via phone so if there's a way we can try
2 and unmute them that would be great.

3 **CAPT. GROHSKOPF:** Hi, can you hear me?

4 **MS. HAYES:** We can.

5 **CAPT. GROHSKOPF:** Excellent. Thanks. Okay.

6 So this talk has two parts. One is an overview of the
7 current U.S. influenza activity from the CDC
8 surveillance systems. And in recent seasons, I've also
9 been asked to present the preliminary U.S. flu VE
10 results, so I have that as the second part.

11 Moving on to the second slide, we'll talk
12 about U.S. influenza surveillance first. These slides
13 are a courtesy of Lynnette Brammer, who presented this
14 information last week at the ACIP meeting. I have
15 updated it with the most recent week's surveillance
16 activity from our flu VE reports. For most of the
17 surveillance systems I'm going to discuss, the data is
18 current as of the end of surveillance week eight, which
19 is the week ending February 22nd, 2020.

20 Moving to slide three, this slide summarizes

1 influenza virologic surveillance thus far for this
2 season. These are results of influenza positive tests
3 reported to CDC. We have two different main sources of
4 this information. We have the clinical laboratories,
5 which are shown in the chart on the left, and the
6 public health laboratories in the chart on the right.

7 In either case, the calendar or surveillance
8 week is on the X-axis. The left-sided Y-axis is the
9 number of positive specimens for each virus type or
10 subtype. And the Y-axis on the right is the percent of
11 specimens that are positive. And that is designated in
12 the clinical laboratory chart by the lines that you can
13 see there.

14 So first looking at the clinical labs, in
15 general, these specimens are not subtyped or subject to
16 lineage determinations, so we have data for all A
17 viruses, which are shown in yellow, and all B viruses,
18 which are shown in green. You can see that, in the
19 beginning of the season, there was a clear predominance
20 of B viruses but that, in recent weeks, influenza A

1 viruses have predominated. You can also see on this
2 chart, in the solid black line that represents the
3 overall percent of specimens that were positive, this
4 has dipped slightly in each of the last two weeks,
5 although it's still rather elevated at above 25
6 percent.

7 For the public health laboratories, we
8 generally do receive subtype and lineage data. For the
9 B viruses, the Victoria lineage, in the lighter green,
10 has predominated while, for the A viruses, H1N1 pdm09,
11 in orange, has predominated. We're seeing relatively
12 little in terms of H3N2, in yellow.

13 Moving on to slide four, outpatient visits for
14 influenza-like illness, the left side shows percent of
15 outpatient visits that were reported to be for ILI by
16 calendar weeks. This is data that comes from ILINet,
17 which is a network of providers who report weekly to
18 CDC the percent of outpatient visits that they see for
19 ILI or influenza-like illness. In the line chart on
20 the left, this shows the current season in the red line

1 with the triangles and several earlier-selected
2 seasons. We can see from the data that ILI activity in
3 this network is still elevated, although there has been
4 a slight decrease in each of the last two reported
5 weeks.

6 The data that are reported to ILINet are also
7 used to produce a map of ILI activity by state, so you
8 can see a bit more of a geographic representation
9 within the United States. This is what you can see on
10 the right side of the slide. As of the end of week
11 eight, we were still seeing substantial ILI activity
12 with New York City, Puerto Rico, and 43 states
13 reporting high activity and 5 states reporting moderate
14 activity.

15 Moving on to the next slide, number five, this
16 is laboratory-confirmed influenza-associated
17 hospitalizations, data from the network FluSurv-NET
18 thus far for this season. We have two charts here.
19 The one on the left summarizes some cumulative data
20 across all age groups by season. We have the 2011-'12

1 through the current 2019-'20 season here on this chart.
2 '19-'20 is the red line that is sort of centrally
3 located amongst all the other lines.

4 As of the end of surveillance week eight, the
5 cumulative rate overall for all age groups was 52.7 per
6 100,000 population, which is similar to many of the
7 recent previous seasons for this time of year and is
8 substantially less than the relatively severe 2017-'18
9 season, which you can see on the line that soars up to
10 the top of the slide. However, one thing to point out
11 here, rates among school-age children and young adults
12 are generally elevated compared to this time of year in
13 recent seasons. Just looking at the chart on the
14 right, which breaks things down by age group, the
15 cumulative rate among children zero through four years
16 old, the youngest kids, was 80.1 per 100,000 and is
17 currently the highest CDC has on record for this point
18 in the season, having surpassed rates reported during
19 the second wave of the 2009 H1N1 pandemic.

20 The next slide should be influenza-associated

1 mortality. We have two charts again here. The first
2 is from the National Center for Health Statistics
3 mortality surveillance data. You can see a number of
4 peaks here because, again, this slide, like many of our
5 slides, represents a number of seasons. The current
6 '19-'20 season is the one furthest off to the right.

7 In this data as of -- and this data is a bit -
8 - approximately a week behind the other surveillance
9 system data. This is data that has been confirmed as
10 of the week ending February 27th and is for reports
11 ending February 15th or received as of February 15th,
12 2020, which was actually calendar week seven rather
13 than eight as most of the other data is. As of that
14 date, 6.9 percent of deaths were reported to be due to
15 pneumonia and influenza. This is below the epidemic
16 threshold for week seven. The epidemic threshold is
17 depicted by the black lines on the graph.

18 On the right, we have pediatric deaths
19 associated with laboratory-confirmed influenza, which
20 has been reportable for children under 18 years of age

1 since 2004. This slide too represents several seasons.
2 In this case it's from '16-'17 through the current
3 2019-'20 season. Thus far for the 2019-'20 season, we
4 have a total of 125 influenza-associated pediatric
5 deaths that have been reported.

6 These include 87 associated with influenza B
7 viruses, 18 of which were subject to lineage
8 determination. And all were determined to be
9 B/Victoria viruses. Then we also had 38 associated
10 with influenza A viruses. 23 of these were subtyped,
11 of which 22 were H1N1 pdm09 and one was an H3 virus.

12 The next slide should be entitled
13 "Characterization of U.S. Influenza A (H1N1) pdm09
14 Viruses Collected September 29th to Present." And
15 we're going to start with -- basically this slide and
16 the three that follow are going to be antigenic and
17 genetic characterization. So starting with the H1N1
18 pdm09s, all 606 influenza A H1N1 pdm09 virus that were
19 tested belong to the genetic group 6B1A. All 74 of
20 these viruses that were antigenically characterized

1 using a hemagglutinin inhibition assay with ferret
2 antisera were similar to the cell culture propagated
3 A/Brisbane/02/2018-like reference virus, which was
4 represented in the 2019-'20 northern hemisphere
5 vaccine.

6 Next slide, "Characterization of Influenza A
7 H3N2 Viruses." 386 of 406, or 95.1 percent, of A H3N2
8 viruses characterized belong to the 3C.2a1 subclade,
9 20, or 4.9 percent, to the 3C.3a subclade. 31 of 72,
10 or 43.1 percent, of A H3N2 viruses antigenically
11 characterized were well inhibited by ferret antisera
12 raised against A/Kansas/14/2017 3C3a, the cell
13 propagated reference virus representing the A H3N2
14 component in the '19-'20 vaccine.

15 Next slide for influenza B/Victoria lineage
16 viruses, two genetic groups of B/Victoria lineage
17 viruses are cocirculating, V1a1 and V1a3. 51 of 699,
18 7.3 percent, belong to V1a1 subclade, the remaining
19 648, or 92.7 percent, to the V1a3 subclade. B/Colorado
20 06/2017, the reference virus representing the

1 B/Victoria lineage virus in the '19-'20 northern
2 hemisphere vaccine, belongs to V1a1 subclade. 83 of
3 131 or 63.4 percent of B/Victoria lineage viruses
4 antigenically characterized were similar to the cell
5 propagated B/Colorado 06/2017-like V1a1 reference
6 virus.

7 Next slide, the last genetic and antigenic
8 characterization slide for B/Yamagata lineage viruses,
9 all 76 B/Yamagata lineage viruses tested belong to
10 genetic group Y3. All ten B/Yamagata lineage viruses
11 antigenically characterized are similar to the cell
12 propagated B/Phuket/3073/2013-Y3, the reference vaccine
13 virus representing the influenza B/Yamagata lineage
14 component of the 2019-'20. And this for quadrivalent
15 vaccines.

16 The next slide, just in summary for
17 surveillance before we move to VE, influenza activity
18 remains elevated, although there has been a little bit
19 of drop in indices for ILI over the last two weeks.
20 Influenza B/Victoria lineage viruses predominated early

1 in the season, but A H1N1 pdm09 viruses have increased
2 in recent weeks. For the season as a whole overall,
3 approximately equal numbers of B/Victoria and A H1N1
4 have been reported. Overall for the entire population,
5 severity has been low, but hospitalization rates among
6 children and young adults have been high. And thus
7 far, 125 influenza-associated deaths in children have
8 been reported.

9 Next slide. Moving to interim 2019-'20 U.S.
10 VE estimates, these slides are courtesy of Dr. Brendan
11 Flannery who presented this data at the February 2020
12 ACIP meeting last week. So the next slide, U.S. Flu VE
13 Network sites and principal investigators, the Flu VE
14 Network is a network of five collaborating sites that
15 work with CDC. And we have those listed on this slide
16 so you can see where they are along with their PIs.

17 Next slide, U.S. Flu VE Network methods, just
18 a basic overview, enrollees are outpatients aged six
19 months and over with acute respiratory illness with
20 cough of seven or fewer days' duration. For these

1 interim results, the dates of enrollment are October
2 23rd, 2019, through January 25th, 2020. The design is
3 a test negative design which involves comparing the
4 vaccination odds among influenza RT-PCR positive cases
5 and RT-PCR negative controls. Essentially all
6 participants enrolled are presenting with acute
7 respiratory illness, and they are sorted into cases or
8 controls based on their testing results, RT-PCR
9 positive or negative.

10 With regard to vaccination status, receipt of
11 at least one dose of any 2019-'20 seasonal flu vaccine,
12 according to medical records, immunization registries,
13 or self-report characterizes a participant as having
14 been vaccinated. VE is calculated as 1 minus the
15 adjusted OR times 100 percent. The analyses presented
16 here include adjustments for study site, age, sex,
17 self-rated general health status, race or a Hispanic
18 ethnicity, interval from onset of illness to
19 enrollment, and calendar time.

20 The next slide moves on to our interim

1 results. A total of 4,112 participants were enrolled
2 from October 23rd, 2019, through January 25th, 2020, at
3 52 clinics at the five sites. Among these, 1,060, or
4 26 percent, were RT-PCR positive, so these are our
5 cases. 3,052, or 74 percent, were RT-PCR negative.
6 These are our controls.

7 Looking at the viruses isolated from the 1,060
8 cases -- this is shown in the pie-chart -- we see a
9 predominance not surprisingly of B/Victoria viruses in
10 the light green at 59 percent, the next most common
11 being H1N1 pdm09 in orange at 30 percent. Again,
12 relatively little mirroring our surveillance data of
13 H3N2 in red, not very commonly identified this season
14 so far at about 1 percent.

15 The next slide should be interim vaccine
16 effectiveness against medically-attended influenza A
17 and B by age group for '19-'20. This is a table, and
18 this shows all influenza A-B results without regard to
19 type or subtype. We have overall results across all
20 ages, and then we have some results that are broken

1 down by age group. Overall across all age groups, VE
2 thus far was estimated as 45 percent with a confidence
3 interval of 36 to 53 percent. Stratifying results by
4 age group, we see statistically significant VE across
5 all the age groups with some variability in point
6 estimates, highest for children 6 months through 17
7 years at 55 percent and lowest for adults age 18
8 through 49 years at 25 percent.

9 The next slide, interim vaccine effectiveness
10 against influenza B/Victoria by age group, wo this is a
11 similar presentation but this time stratifying just for
12 the B/Victoria viruses. The interim estimate of
13 overall VE for B/Victoria across all age groups is 50
14 percent with a 95 percent confidence interval of 39 to
15 59 percent. Stratifying by age group, we had
16 significant VE, statistically significant B VE, in both
17 children 6 months through 17 years and adults. The
18 adult category is collapsed here for 18 and older
19 basically because of too small numbers if they're sub-
20 stratified out further as they were done on the

1 previous slide. We do have somewhat higher of a point
2 estimate of 56 percent among children as compared to
3 the adults 18 and over at 32 percent.

4 Next slide, interim vaccine effectiveness for
5 the H1N1 pdm09 viruses. Overall, we have across all
6 age groups VE of 37 percent with a 95 percent CI of 19
7 to 52 percent. Breaking down by age group, we have
8 statistically significant VE in the 6 months through 17
9 years age group and in the 50 and older age group at 51
10 and 58, respectively. We're not seeing statistically
11 significant results yet for the 18 through 49-year-old
12 age group. And this is something we'll be watching as
13 the season progresses and we begin to see more results
14 and finalize those.

15 The next slide. This is a pyramid graph:
16 deaths, hospitalizations, and cases averted in the U.S.
17 due to influenza vaccination. Over the last several
18 seasons, CDC has provided estimates of influenza
19 illness burden averted through vaccination. It's too
20 early for the '19-'20 season estimates. Those are

1 expected in fall of 2020. We do have 2018-'19
2 estimates that were recently published. For the '18-
3 '19 season, it's estimated that vaccine prevented
4 approximately 4.4 million illnesses, approximately
5 58,000 hospitalizations, and approximately 3,500
6 influenza-related deaths.

7 The last slide is a summary slide. Interim
8 results for the 2018-'19 season indicate vaccination
9 reduced medically-attended illness due to any influenza
10 virus type by about 45 percent based on enrollment
11 through January 25th, 2020. We saw a higher VE in
12 children overall at 55 percent against any influenza
13 virus in that group 6 months through 17 years.
14 Vaccination provided about a 50 percent protection
15 against the predominant influenza B/Victoria virus,
16 clade V1A3. Overall effectiveness against H1N1 pdm09
17 is 37 percent. H1N1 pdm09 (audio issues.)

18 **DR. EL SAHLY:** Lisa, are you still there?
19 Lisa? So we're going to wait for them to call Lisa
20 again. Is that what we're doing? Yeah? Okay. We'll

1 give it a minute. Hi, Lisa. Are you back on? Lisa?
2 Is anyone else on, on the phone? Hi, Lisa. Are you
3 back on? Lisa?

4 **MS. HAYES:** Lisa, we still can't hear you so
5 we may still have the line muted. We're hoping to get
6 it corrected shortly. Thanks for your patience.

7 **OPERATOR:** Phone check.

8 **DR. EL SAHLY:** Is someone on the phone? Is
9 this Lisa?

10 **OPERATOR:** I don't hear anything.

11 **DR. EL SAHLY:** Is anyone else on the phone?

12 **DR. GRUBER:** We have a suggestion to make, I
13 mean, since we already are at the summary slide.
14 Perhaps we can just read that summary slide and then
15 move on because, I mean, we had Lisa almost finishing
16 the presentation. Because we don't really know when
17 this IT problem gets fixed. We need to move on.

18 **DR. EL SAHLY:** Yeah. I was just telling
19 Kathleen if we can potentially get the next
20 presentation --

1 DR. GRUBER: Right.

2 DR. EL SAHLY: -- and then we can do Q and A
3 for both.

4 DR. GRUBER: That's another good suggestion,
5 yeah.

6 DR. EL SAHLY: Okay? All right. So hopefully
7 with fewer glitches, Dr. David Wentworth, the Branch
8 Chief of the Influenza Division, CDC, will do an
9 overview of the global influenza virus surveillance and
10 characterization.

11

12 GLOBAL INFLUENZA VIRUS SURVEILLANCE AND
13 CHARACTERIZATION

14

15 DR. WENTWORTH: Excellent. Okay. Hopefully
16 everyone can hear me. Can you hear me on the phone?
17 I'm going to move to the second slide. This is me.
18 And so we couldn't select influenza vaccines really
19 without strong involvement of the global community and
20 the global influenza surveillance and response system,

1 or GISRS. So year-round surveillance is conducted by
2 GISRS laboratories, and these include the WHO
3 collaborating centers; national influenza centers,
4 abbreviated as NICs here; WHO essential regulatory
5 laboratories, such as the FDA and TGA in Australia and
6 IVSC in UK; WHO H5 reference laboratories.

7 So a consultation was held last week, the 24th
8 to the 27th of February, where we review, analyze, and
9 conclude based on all the data presented by all the WHO
10 CCs, as well as other folks that are involved in the
11 assessment of the viruses. So the nine advisors are
12 shown here. This was chaired by Dr. John McCauley, who
13 is individually shown over there next to the GISRS
14 sign. One of the advisors, Dr. Dayan Wang, had to
15 participate remotely because of the SARS coronavirus-2,
16 COVID-19 outbreak. And 37 observers from NICs H5
17 reference laboratories, WHO CCs, ERLs, academics, the
18 veterinary sector, OFFLU, and other government agencies
19 participated.

20 The next slide. This is slide three for those

1 on the phone, the weekly number of specimens processed
2 by GISRS. So 2019 is the black line there, and you can
3 see our season worldwide started to pick up towards
4 week 38, week 39, week 40, and then continued to
5 increase and then begins as the red line for year 2020,
6 right near the 140,000 mark there on the left-hand side
7 of that slide. And it's good until week seven -- or
8 six there.

9 This is the global picture of the circulation
10 of influenza viruses. So Lisa gave you a nice overview
11 of what's happening in the United States. I'm going to
12 back up a little bit higher and try to show you the
13 global -- what's going on globally.

14 And so the orange viruses in this bar chart
15 are B viruses, and the blue viruses are A viruses. And
16 so the darker orange is the Victoria lineage, and the
17 lighter orange is the Yamagata lineage. And what you
18 can see there, if you start going from the later parts
19 of 2019, say, weeks 46 through 2020's week 7, the
20 increase of viruses that are circulating, a mixture of

1 A and B worldwide, B/Victoria dominating in the B-
2 lineage, and rather a good mix of H1 and H3
3 cocirculating with H1s a bit predominating in some
4 areas.

5 This is an easier take of what was going on
6 worldwide, this simple pie chart on slide five. This
7 is the H1N1 was about 14 percent of the viruses, H3
8 about 15. So for the influenza A, they circulated
9 about equal numbers globally. The number not subtyped
10 is there, 30 percent, and then you can see for the B-
11 lineage viruses there's very low Yamagata circulation.
12 And most of it is B/Victoria lineage viruses with quite
13 a few not determined, but we just consider the ratios
14 to be the same in those not determined.

15 On slide six, this is the influenza activity
16 worldwide, the H1N1 viruses now showing you by
17 influenza transmission zone from September 2019 to
18 February 2020. The light blue portions of the pie are
19 H1N1 viruses, the darker blue portions are H3, and the
20 very dark blue are not subtyped. And then again you

1 can see B are the orange parts of the pie. And so the
2 take-home here really is there's geographic
3 distribution of which viruses circulate in which zones
4 and in which countries. You can see, for example,
5 there were a lot of B viruses in South America and
6 North America and fewer B viruses in South Africa.
7 We'll drill into these numbers a little bit later.

8 Slide seven shows you the influenza viruses'
9 sequence and made available through publicly accessible
10 databases during just this -- since September 2019.
11 These are primarily sequenced by the WHO CCs. So you
12 can see thousands of H1N1s and H3s and B/Vics, and very
13 few B/Yamagata viruses were even available to be
14 characterized by genomics.

15 This slide illustrates the viruses genetic --
16 antigenically characterized over the past three
17 northern hemisphere seasons. The light green is the
18 current September 2019 to 2020 season. And you can see
19 relatively equal numbers.

20 Now I'm going to switch to more details about

1 the specific subtypes and lineages. We'll start with
2 the H1N1 pdm09 viruses on slide nine there. Slide ten,
3 this is the number of H1N1 pdm09 viruses detected by
4 GISRS during these 2019 and 2020 periods, our black and
5 red lines respectively. And you can see that we're
6 just -- it's just starting to be in a downturn now
7 around week five there.

8 Slide 11 shows you the geographic distribution
9 of the pdm09 viruses. And, as Lisa mentioned, we had a
10 lot of those in North America and the United States, in
11 particular. And you can see far fewer H1N1 by percent
12 positive of the samples tested in other regions around
13 the world where they saw more H3, for example, or B or
14 both.

15 Now, I'm going to get into a bit about the
16 phylogenetics and geography of the viruses and more
17 particularly which clades and subclades are
18 cocirculating. If you remember to the last VRBPAC
19 meeting, we had a real wide array of different
20 subclades of H1N1 pdm09 viruses. This is a

1 phylogenetic tree, a very large phylogenetic tree
2 produced by our colleagues at the University of
3 Cambridge, Derek Smith's group.

4 At the top of that tree are the older viruses
5 and you can see that whole -- about halfway down, those
6 are the viruses that were circulating previously. And
7 so to the right of that tree is a heat map that is
8 really a time -- each column represents a month. And
9 so I've highlighted some of the months so that you can
10 read them more clearly. So it starts on the left
11 there, June 2018. Then it goes to January 2019, June
12 2019, and January 2020.

13 And so what you can see is that wide array of
14 viruses have now collapsed down toward the bottom of
15 the tree to these three main groups, the 6B1A-7
16 viruses, which are there at the top. You can see those
17 are primarily circulating in South America and North
18 America, hence the light blue and dark blue coloring of
19 the dashes of the most recent viruses. We also have
20 quite a few 6B1A-5B viruses. And these are again in

1 North America and South America, but there are some
2 seen in Oceania as well.

3 And then by far the predominant group has
4 really become the 6B.1A-5A viruses. And you can see
5 how they're globally disseminated, and they make up a
6 lot of the recent viruses. They really emerged
7 starting in January of last year but have continued to
8 spread and increase.

9 And within that 5A clade, subclade, there's a
10 group of viruses that we're just demarcating right now
11 as D187A and Q189E, and they're in the bottom of that
12 phylogenetic tree. And so you can see there that
13 they're the most recent viruses, and they make up a lot
14 of the viruses towards the bottom there. And they're
15 circulating globally, so Asia, Europe, North America,
16 et cetera.

17 Slide 13 shows the recent residue changes on
18 the molecular structure of the monomer of the
19 hemagglutinin molecule. And so for your reference, on
20 the left-hand side of that slide, the 6B.1A-5A virus is

1 shown compared to the current vaccine virus, the cell-
2 based version of that which is Idaho/07. And it also
3 is highlighting the major antigenic sites that have
4 been defined for this H1 molecule. And so you can see
5 antigenic site Sa is that kind of gold-colored site.
6 Antigenic site Sb, these are the most predominant sites
7 of the tip of the molecule is the blue site. And you
8 can see how they border the RBS, which is the receptor-
9 binding site. And then there's two other sites. These
10 are a little bit more on the side of the molecule,
11 antigenic site Cb and Ca and those are yellow and
12 green, respectively.

13 And so we've marked what the amino acid
14 substitutions here in the 5A group are. They're the
15 T185I, the N129D, and the N260D. And then that newer
16 group of virus that has recently emerged and become
17 predominant worldwide are these 5A viruses with the
18 additional 187A and 189E. And so there you can see how
19 they could be impacting that site Sb all up there when
20 you think about it. The previous vaccine change was

1 changed in part because of a substitution at 183. So
2 over the past five years or so, we've seen the
3 evolution of the virus in this site going 183, 185,
4 187, and 189, all being changed.

5 Now, when we analyze these viruses using
6 ferret antisera for reactivity against the
7 A/Brisbane/02-like viruses, here I'm showing you the
8 antisera to the egg isolate of Brisbane/02, so very
9 similar to the vaccine strain for the egg viruses. You
10 can see 93 percent of them are considered like the
11 vaccine virus and 7 percent of them are considered low.
12 And those 7 percent typically have a substitution in
13 the 153 to 157 corridor of amino acids which in site
14 Sa.

15 This next slide on slide 15 shows antigenic
16 cartography of HI data using the ferret antisera. And
17 on the left-hand side of that the cartography is based
18 on hemagglutination intervention data from the CC in
19 Atlanta since 2009, so you can see the dissemination of
20 all these viruses that we've identified. The

1 Brisbane/02 egg is shown as the dark blue large circle
2 and the Hawaii/70 cell and Guangdong-Maonan/SWL1536 egg
3 shown right there as well.

4 What you see far away from that are the
5 positions that have come up in the past three years or
6 so, 156D, 156K. So 156N is the original blue dots,
7 whereas the D and K are represented by the orange -- or
8 the yellow and the orange dots, respectively. And so
9 that's the thing. The ferret can really hone in on
10 that site Sa quite well and really discern those
11 antigenically.

12 Now when we do antigenic analysis with human
13 and ferret sera for comparisons here on this slide --
14 this is slide 16. I'll walk you through this because
15 it's -- I know it's an HI table, and they're not that
16 fun. But on the strain on the reference viruses on the
17 left-hand side, we have past vaccine viruses,
18 California/07, the early H1N1 pdm09 vaccine,
19 Michigan/45, the 6V.1 clade HA that was changed to a
20 new vaccine and then Idaho/07, the one that was most

1 recently changed. This is a cell version of the
2 Brisbane/02 virus -- and a Maine/38, which is a virus
3 that has the 156K substitution but that emerged last
4 year, so it's not in the P5A clade. It's in the P2
5 clade, but it still has that substitution.

6 So ferret antisera against Idaho/07, you can
7 just cast your eye down that column, and the only place
8 you'll see it drop titer significantly from the 2560 is
9 when there's a 156K virus. So you can see that
10 Maine/38 at the top drops to 160 and the Wisconsin/588
11 from 2019, which is now a 5A that's evolved to 156K
12 substitution, has dropped to 160. But all the other
13 groups, the major circulating groups that we've tested
14 aren't recognized as different. And the reciprocal is
15 a little true with the Maine/38 antisera.

16 Now, let's turn your attention to the human
17 adult sera. So these are just post-vaccinated adults
18 individually looked at. And here we have two adults
19 from the 2010-'11 vaccination campaign, and their
20 homologous titer would be to California/07-like virus

1 is 1280. And you can see how it drops to 320 for this
2 individual, number one, against Michigan/45 and then
3 stays around there, actually jumps up with the Maine/38
4 with the 156K. And it's a little bit more cross-
5 reactive with that virus, quite contrasting the
6 ferrets. And if you look at the second individual,
7 there at 2560. They seem to be cross-protected against
8 many of these viruses.

9 Now the next two individuals were vaccinated
10 with Michigan/45-like virus, and the first one is a
11 homologous titer of 1280. That drops to 640 for most
12 of the next viruses down. But then, when you get to
13 the Nebraska/14 -- this is the group with the 5A plus
14 187, and 189 -- and you can see it drops further to 320
15 and doesn't drop any differently to the 5A with the
16 156K, quite contrasting the ferrets.

17 And then Michigan/45, the last individual, has
18 a homologous titer of 320, and they drop to about 80
19 with the vaccine from last year, the Idaho/07, again,
20 don't change from that with the 156K substitution, have

1 80 with the 5A virus but then drop again to 40 with the
2 5A that had the 187 to 189E. And it's very similar
3 with the 156K virus. They also react poorly with the
4 5B viruses and some of the 7, the subclade 7 viruses.

5 Okay, so now I'm going to go to human
6 serology. Now these are individuals vaccinated with
7 last year's vaccine, the 2019-'20 vaccine. And here
8 we're looking at the post-vaccination hemagglutination,
9 inhibition titers for the geometric mean titers
10 relative to the cell propagated Idaho/07.

11 And so you can see the vaccine covers these
12 Idaho/07-like viruses very well. And then we have
13 representative viruses across the top here. So that's
14 the antigen they were tested against is on the top
15 there. So for example a 5A virus is a Nebraska/15. A
16 5A with the 187 and 189 is the Nebraska/14, and a 5B is
17 the Maryland/42.

18 And then we had 12 panels of human sera that
19 were tested. So we're trying to test a lot of human
20 sera. Typically, the pediatric sera gives us the most

1 sensitive window for antigenic drift of the virus, and
2 you can see that here. They're the first row. There
3 are 6- to 35-month-old pediatric sera. You can see
4 some reductions to the 5A and the 5B viruses. And then
5 the next two sera are three to eight-year-old
6 pediatrics. And both egg vaccine and cell vaccine are
7 being compared there in the top row and the next row
8 down.

9 I'm not going to walk you through the entire
10 table. I think the easiest thing to do is -- the
11 reason we've color-coded it is because green is good.
12 Dark red is significantly low. And as you move from
13 light orange to darker orange to the red, that's where
14 you're getting reduced reactivity patterns. And so you
15 can kind of look at this, at the different age groups
16 and the panels from USA versus UK, Japan, et cetera.
17 You can see some of the ones that are low are in the
18 5A, 187A, 189E, as well as the 5B, which is
19 consistently low but really currently only circulating
20 in North America.

1 Now, this is the same human serology compared
2 against the egg-propagated Brisbane. And that will
3 just kind of accentuate the differences. And you can
4 see that we see more orange and reds with that.

5 So to summarize the H1N1s, the pdm09 viruses
6 predominated in some parts of Europe, North America,
7 Asia, and Africa. HA gene sequences belong to clade
8 6B.1A, with subclades 5A, 5B, and 7 all cocirculating.
9 The majority of the viruses now belong to the subclade
10 5A, which has four amino acid substitutions
11 characterizing that group, which is N129D, S183P,
12 T185I, and N260D. And then most 5A subclade HA
13 proteins also have evolved D187A and Q189E
14 substitutions in this site Sb. We've also seen a
15 recent emergence of 5A subclade that has acquired the
16 N156K substitution in site Sa, which we will be
17 watching closely. Ferret antisera raised against the
18 pdm09 virus, Brisbane-like viruses, Brisbane/02-like
19 viruses, well recognized circulating viruses with the
20 exception of those that have substitutions in 155 or

1 156.

2 Now I'm on slide 20. The summary, two human
3 post-vaccination antisera showed reduced inhibition of
4 viruses that express recent HA subclades such as the
5 6B.1A 5A with the 187A and 189E, as well as the 156K
6 viruses. Sera collected from humans vaccinated with
7 the 2019-2020 vaccines had reduced geometric mean HI
8 titers to clade 5A 187 and Q189E substitutions, and 5B
9 viruses had reductions compared to the Brisbane/02-like
10 viruses.

11 Okay. So I'm going to turn our attention now
12 to the H3 viruses, H3N2 viruses. I'm on slide 22. The
13 number of H3N2 viruses detected by GISRS are shown on
14 this slide. You can see it really started to peak up
15 towards the end of 2019, beginning around week 44-45
16 and now is on the decline as we enter week 5 on this
17 graph.

18 This is the geographic distribution of the H3
19 viruses. If you remember back to the -- this is the
20 number of percent positive in these different locations

1 around the world. You can see there are quite a few in
2 Asia and Europe and parts of Africa.

3 Slide 24 is the summary of reactivity of H3N2
4 viruses using neutralization assays. So you can see
5 the grand total of about 40 percent are considered like
6 the Kansas/14 2017 cell. Remember that's in the
7 current vaccine that was used. And 60 percent are
8 considered low.

9 Remember the geographic distribution of the
10 viruses is in different places. And so you'll notice
11 that some WHO CCs have quite different numbers than
12 others. And these sometimes are in part to different
13 viruses -- different types of viruses circulating in
14 the regions where they obtain the viruses from.

15 Now, slide 25 shows the reactivity pattern
16 against the Kansas egg virus. And there you see that
17 it pushes more of them to a higher fold reduction, so
18 we end up with more in the eightfold low category,
19 about 88 percent total. Slide 26 is now showing you a
20 phylogenetic tree, again, a very large one.

1 And I'll go through this a little bit. We
2 have at the top of that tree the 3A viruses. This is
3 the clade that the Kansas/14/2017 vaccine strain is in
4 currently. And you can see how predominant that was in
5 North America from January through June 2019 there and
6 how it emerged a little bit in Europe. And now you can
7 see in January and earlier December, November, really
8 it dominated the European season, the 3A-like viruses
9 that were in the vaccine.

10 Below that are the 2A2 viruses that caused our
11 big season 2018-2017 timeframe. And then further below
12 that are where a lot of the 2A1b viruses are currently
13 that are cocirculating. We have a group that have a
14 135K substitution. They originally evolved around mid-
15 2018 and are globally disseminated. Then we have a
16 newer group of viruses, the 135K with 137F, 138S, and
17 193S. I'll typically refer to these just in the
18 shorthand of 137F viruses to try to make it a little
19 cleaner when I speak.

20 So these originally emerged in Asia about this

1 time last year and now are started to disseminate
2 globally as you can see by the color coding, the red
3 dashes and then it turns to blue and green and some
4 pink even. And then the 131K viruses, these are like
5 the South Australia/34 vaccine virus that was nominated
6 for the 2020 southern hemisphere vaccine campaign. And
7 you can see there at the bottom of that tree, they
8 emerged quite a long time ago and have continued to
9 disseminate and evolve a few amino acid substitutions
10 within their groups and subgroups. So a lot going on
11 with the H3s, as usual, and multiple cocirculating
12 subclades that are antigenically distinguishable.

13 This slide tries to put it graphically which
14 clades and subclades are cocirculating. So these pie
15 charts that may be a little small for individual
16 countries may be a little hard to see, but the main
17 point is there's different clades and subclades
18 circulating in different regions of the world. And
19 that makes, of course, choosing a vaccine very
20 difficult for the whole northern hemisphere.

1 So you have the 131K viruses are the yellow
2 viruses. They're a little bit kind of older. They've
3 been around for a very long time, the yellow pies -- or
4 pieces of pie. Then the orange ones are the 135K
5 viruses. And you can see how they're really in Africa
6 quite a bit. The 3C3a viruses are represented by that
7 red color, you can see in South America, Central
8 America, and Europe and then this newer group of the
9 135K plus the 137F, et cetera, really circulating in
10 Asia but then starting to disseminate globally
11 westward.

12 Now I'm showing you a molecule here. This is
13 again just like the H1. It's a crystal structure of
14 the monomer of the HA. There HA is actually a trimer
15 of these, but it's a little bit easier to focus on the
16 monomer. Now, the antigen excites are labeled in the
17 color coding there. And Iowa/60 is a base 131K virus
18 and the recommended cell vaccine candidate for the
19 southern hemisphere 2020.

20 And so the 2A1b, 135K, 137F group is shown on

1 the right and where those substitutions are. You can
2 again see up in the head where's there a lot of
3 antigenic pressure. There's a substitution at 137F
4 that kind of defines that in terminology, 138S and
5 193S. 193S is actually a substitution that also
6 evolved in the 3A viruses that allowed them to take
7 off. And then we have T128A, as well, on the other
8 side when you rotate it 180 degrees.

9 This slide 29 shows the antigenic cartography
10 of the H3N2 viruses now. The green dots are 3C3a
11 viruses, and so here it's much easier with ferret
12 antisera and virus neutralization tests. Remember, we
13 can't really HI effectively the H3N2 as much as we used
14 to be able to, so we depend a lot on virus
15 neutralization type tests. So here we're looking at
16 cartography using virus neutralization tests. And you
17 can see those 3A viruses in the Crick data on the left
18 and the CC Atlanta data on the right. And they're
19 pretty consistent. When you can do an HI well, it
20 still works.

1 So you have the 3A viruses are green. The
2 kind of purplish-blue are 131K viruses. You can see
3 how they dominate in some regions. And the red-colored
4 dots are 135K viruses. And so you're seeing some
5 overlap in all the 2A1b viruses, and this has been
6 true. Where we're releasing the most distinguished
7 viruses are in the 135K plus the 137F, 138S, and 193,
8 which are the pink viruses.

9 This shows you a hemagglutination inhibition.
10 I'm on slide 30 now -- I mean, a focused reduction
11 assay from the CC in Atlanta. The top reference virus
12 there, number one is Iowa/60. That's the cell
13 prototype 131K vaccine for the 2020 southern
14 hemisphere. And you can see it has a pretty high
15 homologous titer of 5120. It covers many of the
16 viruses that are circulating pretty well.

17 If they're 131K, you can see how well it does,
18 but it starts to drop coverage with the 135K, 137F
19 group. And also we have seen, in general, some
20 reductions of some of the other distinguished groups,

1 particularly this 135K, 186D group that's towards the
2 bottom. It's the virus from Togo/1307 and Ohio/30.
3 Those are representative of that. And then you can see
4 how poorly they cross-neutralize the 3A viruses. And
5 then going to the far-right side of that column, you
6 can actually see how well the Kansas/14 cross-
7 neutralizes and cross-protects against some of these
8 other cocirculating groups, even though ferrets can
9 antigenically distinguish in a unidirectional way. So
10 they are fourfold, sometimes eightfold down, but it is
11 showing some cross-neutralization.

12 Now, we've included some antisera here to this
13 new group, the 135K, 137F viruses represented by that
14 Hong Kong/45 in an egg cultivar which is Hong
15 Kong/2671. And so you can see the sera to both of
16 those viruses and the antigens. So those are antigens
17 three and four, and you can see how well the sera
18 against that virus covers most of the circulating
19 groups, not only its own group but does pretty well
20 against the 131K viruses and only lacks coverage of the

1 3A viruses.

2 Now, I'm turning to slide 31. This is
3 analysis of post-vaccination sera. Remember, humans
4 were vaccinated in the 2019-2020 season with Kansas/14-
5 like virus, and so that's what you're seeing in the
6 first column here is reactivity with a Kansas/14 cell
7 antigen. And then, I have all the different vaccines
8 that were used, our IIV4 vaccines, Flucelvax. Row ten
9 is Flublok, sera from Flublok provided by CBER FDA.
10 And so you can see all those vaccines in all these
11 different age groups did pretty well against 3A viruses
12 and covered those very well. Where you can see huge
13 antigenic distinction is typically in the young, and
14 that's true here.

15 The 6- to 35-month-old pediatric in row one,
16 you can see that virtually all the currently
17 circulating groups that we're nervous about, 131K plus
18 additional substitutions -- and the very last column is
19 this 135K with the 137F, et cetera, represented by the
20 Hong Kong/45 antigen. And that one is consistently low

1 across the board. And it's more pronounced when we
2 compare it to the egg virus.

3 This is on slide 32 now. You can see kind of
4 the shift from green to orange to red as you kind of go
5 across that group. I'm not going to waste your time by
6 walking through each serum, but there's a lot to be
7 learned from the human sera. And it's very complicated
8 because of people's prior exposure history. The
9 cleanest sera, of course, is the pediatric population.

10 If we go to slide 33, this is the first
11 summary slide for H3N2s, 3C.3a, and 2A1b viruses co-
12 circulated widely with regional heterogeneity. The 3A
13 circulated primarily in Europe and South America.
14 2A1b, 131K continue to circulate. These have been
15 around for a while now. The 2A1b with the 135K has now
16 divided into two subgroups that have additional
17 substitutions.

18 The one I spent more time on is this one
19 that's more common right now is the 137F, 138S, and
20 193S, substitutions that's widely disseminated

1 throughout Asia, has been there for almost a -- quite a
2 long time now, and found in Europe, North America. The
3 S198P group that has a number of other substitutions
4 primarily circulated in Africa and sporadically in
5 other regions. And so it's a little bit newer emerging
6 subclade within the 135K group.

7 Slide 34 is a second summary, the antigenic
8 characteristics. The ferret antisera to 3C3a-
9 expressing viruses were antigenically similar to each
10 other, so all currently circulating 3As really look
11 antigenically like the vaccine virus. But they showed
12 reduced inhibition of 2A1b HA clade viruses.

13 When we take sera to 2A1b HA clade viruses,
14 this shows poor neutralization of 3C3a viruses, so
15 there's clear 2A antigenic distinction between those
16 two groups. And some of the subgroups within the 2A1b
17 were antigenically distinguishable, but overall 2A1b
18 viruses do cross-react with each other. The most
19 pronounced titer reductions were seen in the 2A1b-135K,
20 137F, 138S, and 193S substitution group.

1 The 2A1b-135K plus 137F, et cetera, viruses
2 did inhibit the 131K viruses fairly well, but the
3 converse was not observed. Okay. So the sera against
4 the 131K doesn't do as good a job cross-neutralizing
5 the 137F group as the sera against the 137F group does
6 against the 131K viruses.

7 Human serology studies using serum panels from
8 people vaccinated with Kansas/14/2017 3A viruses,
9 recently circulating clade 3A viruses were very well
10 neutralized. GMT titers against representative viruses
11 from the genetic group 2A1b were reduced. This was
12 most notable in sera obtained from the very young
13 children, 6- to 35-month-old. The 2A1b-135K, 137F,
14 138S, and 193S viruses such as the Hong Kong/45/2019-
15 like virus had reduced GMTs.

16 Now, I'm going to change your attention to the
17 other main group of viruses, influenza B viruses, and
18 we'll start with the B/Victoria viruses. This is now
19 showing -- slide 37 is showing the activity from
20 September 2019 to 2020. As you heard from Dr.

1 Grohskopf, we had a lot of influenza B activity in the
2 United States and in North America in general. You can
3 see in South America and then parts of Europe and Asia
4 and Africa.

5 This is the number of B viruses detected by
6 GISRS overall, and you can see how low it was in
7 previous seasons such as 2017 and 2018 being a big
8 season and then nothing the following year. And then
9 as you get into 2019, you see this rapid emergence of
10 these viruses and really going to dominance and
11 continuing to increase as you get into 2020, where the
12 black line becomes the red line. This slide, number
13 39, shows the lineage distribution. And I mentioned
14 this earlier, but really you can see, in most areas
15 around the world, it's a real dominance of the
16 B/Victoria lineage viruses, the one exception being
17 South America where there was quite a bit of B/Yamagata
18 circulation as a group.

19 So to get into the characteristics of
20 B/Victoria lineage viruses, this is showing the HA

1 clade diversity based on sequence availability. If you
2 remember, the Brisbane/60, the old vaccine strain prior
3 to the Colorado/06 change which was fairly recent, was
4 a V1A virus, so that would be the green bar. There's
5 still very few of those circulating, some in Asia.

6 The V1A.1, these are viruses that acquired two
7 deletions in the hemagglutinin gene, and they really
8 dominated our seasons previous -- the past couple of
9 seasons. And this is the group where the vaccine virus
10 is in. And then the V1A.3, which really emerged
11 January, February last year and then rapidly swept
12 across the world is shown in the blue bars.

13 Slide 42 kind of shows you this. Okay? So
14 there's really -- you can see the phylogenetic tree on
15 the left, the color coding for the locations on the far
16 left, and the top of that tree, really the viruses
17 circulating in January 2018, primarily the old V1A
18 viruses at the very top. We had the emergence of a
19 triple deletion called V1A.2 very early on, but you can
20 see it's -- it just kind of died out. So that has the

1 same amino acids deleted as the current triple
2 deletion, which is the very bottom of the tree. So
3 different mutations had to occur to allow that to be a
4 successful virus.

5 Then a little below that, you can see the
6 V1A.1 viruses, which really started to increase in
7 January 2018, really identified in South America and
8 North America, and then swept worldwide as we moved
9 into 2020. And then you can see where these V1A.3,
10 which also have the 162 to 164 deletion, really arose
11 last year about this time. Actually at the time of
12 VCM, we really don't have the sequence data where you
13 can see January 2019 because it takes a month or two
14 before sequence data gets deposited in the database.
15 And so you can see how rapidly it emerged and started
16 out in one part of the world and then swept into our
17 parts of the world by the time fall came. And so the
18 majority of the viruses, as you heard Lisa say, that
19 affected our population this year are these V1A.3
20 viruses.

1 This shows you the reactivity pattern with the
2 V1A.1, B/Colorado/06-like viruses with all the viruses
3 that are cocirculating. Remember, most of these are
4 V1A.3 viruses that are tested, so it's -- the antisera
5 to the V1A.1 viruses is cross-reacting with some of
6 those to a certain extent, particularly with the cell
7 virus. This of course gets worse when you use the egg
8 virus and make antisera to that. It doesn't cross-
9 neutralize so many of the V1A.3 viruses using ferrets
10 as a model.

11 Now, when we look at the reactivity against
12 Washington/02, this is the recommended vaccine for the
13 southern hemisphere in 2020. This is a V1A.3 virus.
14 You can see that 87 percent of them are covered with
15 the cell version, and 89 percent are covered by the egg
16 version of the virus.

17 Slide 45 shows you the antigenic cartography.
18 Again, looking at the various viruses, the vaccine
19 viruses are the large circles, and the test viruses are
20 the small circles. You can see how the gray viruses

1 represent older viruses that are older than six months
2 old. And so you can see that most of the viruses now
3 circulating are really these three deletion viruses,
4 which are the V1A.3 viruses. And where the Washington
5 egg and Washington cell sit in that cluster, you can
6 kind of draw a circle around those, and they'd be
7 covering most everything in that circle.

8 Slide 46 walks you through an HI. Again, the
9 highlighted column shows Iowa/06. This is a cell
10 version of a vaccine virus that was used, the V1A.1
11 double-deletion virus. It's known as Iowa/06. It has
12 a homologous titer of 320. And you can see it drops a
13 little bit, four to eightfold typically with viruses in
14 the V1A.3 group but does show some cross-reactivity.
15 And it does show good cross-reactivity again with
16 viruses that are older, the V1A viruses. And so that
17 was kind of in the middle of this evolution. Then if
18 you get to the Washington/02 viruses -- these are the
19 V1A.3 -- the sera is shown there under that darker
20 blue, V1A.3. We have the egg and the cell, and you can

1 see the 320 homologous titer does very poorly against
2 the V1A virus and the V1A.1 viruses but does very well
3 protecting against all the V1A.3 viruses that are
4 circulating right now.

5 This is analyzing human post-infection sera.
6 Remember they were vaccinated with Colorado-like
7 viruses which are V1A.1. Does a very good job against
8 those viruses. They're all green. Actually cross-
9 protects pretty well in this type of analysis with
10 V1A.3 viruses, and the most unique virus we could find
11 is this V1A.3 with some additional
12 substitutions -- this is a pretty rare virus -- is also
13 neutralized. One of the difficulties here is the
14 homologous titer of the test antigen, Iowa/06, was
15 quite low, so it doesn't give you as nice resolving
16 power as we like to have.

17 When we compare to the egg-propagated
18 reference, now we can get a higher cross-titer with the
19 Colorado/06, so it gives you a little more resolving
20 power. But you do see some reductions against even the

1 cell counterpart, the Iowa/06, and then similar
2 reductions against the Washington/02. And it actually
3 looks better against the Washington/02 egg than it does
4 against the Washington/02 cell, which is consistent
5 with some of the egg epitopes generating immunity to
6 that.

7 Okay. So to summarize the B/Victoria lineage,
8 the phylogenetics of the HA, it's actually pretty
9 simple right now. The majority of things circulating
10 are V1A.3 viruses. They have this triple deletion in
11 the HA, 162 to 164. There's a minority circulating of
12 the V1A.1, which has the two amino acid deletion in the
13 same exact region of the HA.

14 Antigenic analysis with ferret antisera shows
15 that the Colorado/06-like cell virus inhibited V1A.1
16 clade viruses well but did show some reduced inhibition
17 of V1A.3 viruses. The ferret antisera to
18 B/Washington/02 viruses, which is a V1A.3, well
19 inhibited its own clade viruses but very poorly
20 inhibited V1A.1 and V1A viruses. And then human

1 serological analysis showed limited cross reactivity
2 when compared to the GMTs of cell reference viruses,
3 but the cell reference had a low GMT to start with.
4 That's a little bit of a caveat there. And then they
5 also showed reductions when compared to GMT of egg
6 viruses.

7 Finally, I'll change to the B/Yamagata lineage
8 viruses. Again, we'll start with a phylogenetic tree
9 and the phylogeography. You can see, back in January
10 2018, there were quite a few B/Yamagata viruses
11 cocirculating in these various regions, but it's a
12 pretty what we call flat tree. There's not a lot of
13 evolution in that tree. They just seem pretty
14 successful.

15 And then they went through a crunch, and now
16 really we only have viruses circulating primarily in
17 South America, as I mentioned earlier. And you can see
18 that's the group kind of in the middle there, the light
19 blue dashes. But they don't have huge reasons like
20 amino acid changes to make them more fit. They look

1 pretty similar.

2 Slide 52 shows reactivity of antisera against
3 the vaccine viruses, B/Phuket/3073, the cell-like
4 virus. You can see 90 percent of the viruses that
5 we're able to test are considered like and 10 percent
6 considered low. And when we compare against the egg
7 virus, this drops a little, and you have 30 percent
8 like and 67 percent low.

9 Slide 53 shows antigenic characterization of
10 the B/Yamagata viruses. You can really see the MDCK
11 version of Phuket covers all the viruses that are the
12 test viruses that are circulating here from various
13 regions around the world. Here we have a lot of
14 viruses from Pakistan, Haiti, Laos, et cetera, but this
15 is true of the very few we can find in the U.S., for
16 example, antigen number 11, North Carolina/05 there.
17 We do see some reductions with the antisera produced
18 against the egg cultivar of B/Phuket.

19 But human post-infection vaccination sera
20 tested here. We didn't test as many panels against the

1 very few viruses that we think may have some antigenic
2 changes, such as these Y3 with a 230N or a Y3 with a
3 233N. You can see there that the 233N appears to have
4 a more significant impact with human antisera generated
5 against B/Phuket viruses, and that's showing you
6 compared against the cell virus on top and compared
7 against the egg virus on the bottom.

8 So to summarize the Yamagata, we have very
9 limited circulation. It's primarily in South America.
10 The phylogenetic shows that all of them are in clade 3.
11 Antigenically, they're similar to the cell culture
12 propagated B/Phuket/3073/2013 virus. We saw some
13 reduced inhibition by ferret antiserum to the egg
14 propagated cultivar of that virus. Post-infection
15 human sera well inhibited representative circulating
16 viruses well when comparing the GMTs to cell propagated
17 virus. Reductions were seen in some panels when
18 compared to the egg-propagated virus.

19 And so I don't need to go through this next
20 slide because we started there with the

1 recommendations. Maybe I'll leave it there for a
2 second to remind you, but I think it's in your
3 booklets. The Guangdong and Hong Kong represent
4 changes from the southern hemisphere. The Guangdong,
5 Hong Kong, and Washington viruses represent change from
6 the last northern hemisphere recommendation.

7 And then to acknowledge all the WHO
8 collaborating centers in Beijing, Melbourne, London,
9 Tokyo, as well as WHO Geneva staff, all of our GISRS
10 partners at the National Influenza Centers, our
11 University of Cambridge partners who did the
12 cartography and some of those large phylogenetic trees,
13 the ERLs, U.S. partners such as the Association of
14 Public Health laboratories, United States Air Force
15 School of Aerospace Medicine, Naval Health Research
16 Center, our fitness forecasting partners which I didn't
17 show you much of their data in Europe and U.S., and of
18 course all of our CDC staff with a special thanks to
19 Becky Kondor, Summer Galloway, Min Levine, and Xiyan
20 Xu. Thanks.

1 **DR. EL SAHLY:** Thank you, Dr. Wentworth.

2 Lisa, are you back on the line? Dr. Grohskopf?

3 **CAPT. GROHSKOPF:** Hello. I'm here.

4 **DR. EL SAHLY:** All right. So we have our two
5 speakers available to answer questions from the
6 committee. So Lisa, because of the technical
7 difficulties, we decided to combine the Q&A to you and
8 to David at the same time.

9 I guess I'll begin with a clarifying question.
10 So for the B/Victoria post-vaccination human sera, when
11 you test those sera against a cell-propagated Victoria,
12 you will not identify differences. Only when you use
13 an egg-grown, you will identify those differences, and
14 what does it tell about the test itself, really?

15 **DR. WENTWORTH:** Yeah, yeah. So that's why I
16 put the caveat in, and I appreciate you giving me the
17 opportunity to explain it a little bit better. So
18 really what happened is there's a very low homologous
19 titer with the cell virus, and that's just by the
20 biological nature of the virus. And so what that does

1 to us is it does allow you still to see antigenic
2 difference, but it decreases the resolving power. So
3 once you get down below a certain titer, it's hard to
4 see, you know, the meaningfulness of the assay. Our
5 titer will stop at say five.

6 **DR. EL SAHLY:** Okay.

7 **DR. WENTWORTH:** So you're going from a titer
8 of say, for example, 80 or 40 to 5. So you have that
9 resolving power. And it's just nicer when you have the
10 resolving power, say, at, you know, 160 or 320 or
11 something like that because we can still go all the way
12 down to 5. So you can discern the antigenic difference
13 farther. But if there was a big antigenic difference,
14 you'd be able to see it with the way we did it because
15 a 40 to 5 is a very significant difference, for
16 example.

17 So we're seeing cross-protection. It's just
18 that it would be nicer if it was a little bit higher.
19 The previous VCM, we had a little bit higher titer, and
20 we saw similar cross-protection.

1 **DR. EL SAHLY:** So there was cross-protection
2 with the triple deletion?

3 **DR. WENTWORTH:** Yeah.

4 **DR. EL SAHLY:** It's just that -- okay.

5 **DR. WENTWORTH:** With human sera --

6 **DR. EL SAHLY:** With human sera.

7 **DR. WENTWORTH:** -- it gets reduced with that
8 pediatric population that really hasn't had prior
9 exposure by infection or vaccination.

10 **DR. EL SAHLY:** Okay. Okay. Dr. Spearman?

11 **DR. SPEARMAN:** Hi, I have kind of a big
12 picture question for both of our speakers or either one
13 who could take this on. So looking at the phylogenetic
14 analysis and the antigenic analysis and the serologic
15 analysis from vaccines, how can we relate that to what
16 we're seeing currently with the interim vaccine
17 effectiveness? For instance, if we just think of the
18 H1N1 right now, there's -- in the adults it looks like
19 the effectiveness is not there.

20 And yet, I didn't see a big mismatch or a big

1 lack of match serologically in what was presented for
2 the H1N1. So can that be -- am I missing something?
3 Can that be explained by antigenic drift, or is this
4 something completely different?

5 **CAPT. GROHSKOPF:** This is Lisa. Just some
6 thoughts on that from the perspective of the Flu VE
7 Network, one thing in that system at this point is that
8 in the adult age group they've been seeing more H1s
9 than Bs, particularly recently. The numbers are
10 currently smaller for H1N1s. We may see a difference
11 in the VE as the season wears on and we begin to see
12 more in that age group. Of note, the interim estimates
13 from our understanding in Canada within a similar age
14 group of 20 to 64 years were somewhat lower than they
15 became later, probably as a result of the increasing
16 numbers and greater precision of estimates.

17 **DR. WENTWORTH:** Okay. So I -- and I think
18 I'll just -- I'll touch upon it too because I don't
19 think you're missing anything there. It's a bit
20 confusing. I do think these are interim VEs, so that

1 could impact it. And I think that the human sera
2 really shows pretty good neutralization in that age
3 group. So there is some inconsistency there.

4 Remember, VE is an estimate and not some
5 mathematical model, and there's a lot of -- you know,
6 you have to go seek healthcare as one of the ways to be
7 tested. So there's factors there that are involved. I
8 think the more direct evidence that it cross-protects
9 is in the human sera, but of course it's a little bit
10 of hand-waving.

11 We're not seeing that kind of huge antigenic
12 distinction there. You can see what I showed you with
13 human sera. Some people cross-react very well. Others
14 are showing reductions. And the main reason I show
15 that is to illustrate that these very dominant sweeps
16 of amino acid changes are having an antigenic impact.
17 It's not to say that the vaccine's poor or good.

18 It's really just to illustrate that do these
19 changes impact the structure of the protein when
20 ferrets aren't recognizing that change. And the fact

1 that some humans recognize that change do say that it's
2 changing the structure of the protein.

3 **DR. EL SAHLY:** Dr. Offit?

4 **DR. OFFIT:** Yes. Question for you, Dave. So
5 I just -- two years ago we picked for our vaccine
6 strain -- for the H3N2 we picked a 3C2a clade. It
7 ended up being, at least at the end of the season, a
8 3C3a, which dramatically reduced efficacy.

9 This past year in many ways the opposite was
10 true. Right? We picked up a 3C3a clade. It was
11 mostly 3C2. And then for the B/Victoria, we picked a
12 V1A1. It ended up being mostly V1A3.

13 I mean, as you said early on, flu is a moving
14 target. It's really hard to predict. But if you go
15 back to the data that we had in March when we were
16 making those picks, is there anything in those data now
17 that you knew what happened, that would tell you, you
18 know, maybe this was a clue that we could have gone
19 with a different clade than the one we went to?

20 **DR. WENTWORTH:** Yeah. It's really important

1 to almost be an armchair quarterback for yourself and
2 really look back and see. And it's partly why I
3 pointed out that emergence of the B virus, that triple
4 deletion mutant. We saw very few of those at this time
5 last year. And when you think about it in protein
6 space, they're exactly the same as the ones that had
7 just died out.

8 So really, I mean, I could look at it now and
9 say, well, there's something to think about, but we
10 just started putting things in eggs. You know, we
11 wouldn't have had Washington/02 had we not at least
12 thought about doing something with those, so we did
13 start putting things in eggs so that we had them
14 available for the southern hemisphere selection.

15 But there's just very few data. So it's kind
16 like the Yamagata right now. I can't see making a
17 different choice at this time last year, personally, on
18 the B Vics. And I think the human serology data at
19 this time last year also told you that we did have a
20 few of those strange viruses in our serum tests, and we

1 did see some neutralization of those viruses by kind of
2 that broader immune response that most humans have
3 versus a ferret, you know, which is a very naïve model
4 specifically designed to pick up single amino acid
5 substitutions. Right.

6 **DR. EL SAHLY:** I think also last year was more
7 double deletion than triple deletion.

8 **DR. WENTWORTH:** Far more. Even --

9 **DR. EL SAHLY:** Yeah. So that was the --

10 **DR. WENTWORTH:** And the trajectory of those,
11 like when you looked at the fitness forecasting models,
12 was very high. There wasn't enough data to say that
13 this V1A.3 virus could sweep the world in about six
14 months' time. It was very unusual for an influenza B
15 virus to move that rapidly. But with regard to the H3,
16 to answer that question, I think that one was a much
17 harder decision, and one of the drivers of that
18 decision was how antigenically distinct the 3C3A
19 viruses are. As I mentioned, we've been dealing as a
20 human population, particularly in North America, with

1 3C2A viruses since 2014.

2 So if you look at our human sera over all
3 these years, we've had many exposures to 2A1 viruses,
4 both by vaccination with Hong Kong/4801, Singapore,
5 North Carolina in the cell. Right? And so we had
6 that. And then if you think of the 2A2 viruses, that
7 was the one that caused that huge, really severe season
8 that we've discussed a couple of times. That is also
9 a -- it's a 2A virus, and it actually is 2A1-like in
10 that it also has 131K.

11 So even though we defined that group as 131K,
12 the 2A2 viruses that caused that really large season
13 and infected a real big chunk of our susceptibles had a
14 131K. So in part, what we did last time was look at
15 that Kansas/3A virus and see that it was really low in
16 the human serology, very antigenically distinct using
17 ferret antisera. And we went with the one that was the
18 most distinct because that would have the greatest
19 impact on people that already have prior exposure.

20 Where it can be a huge misstep is in the

1 pediatric population, which is so important, you know.
2 And that's where picking the perfect strain, I think,
3 is the most important. But that's where it's very
4 difficult. But you can -- I'll just finally say that
5 there's something about the 3C3A Kansas virus that does
6 induce a lot of immunity that does work against the 2A1
7 viruses.

8 **DR. OFFIT:** Is that because it's sort of
9 originally antigenic, in a sense?

10 **DR. WENTWORTH:** Yeah. I think it's a
11 combination of just -- I would just call it memory. As
12 I mentioned, we've been dealing with 2A1 viruses since
13 2014. Some of it may be OAS, but some of it may just
14 be repeated exposure vaccination to those viruses. And
15 then when you hit them with something -- you hit all of
16 us with something very new, the Kansas, it might
17 stimulate quite a bit of memory there and then really
18 only induce a primary response to the different pieces
19 that are Kansas-like. It's getting very hand-wavy.
20 Yeah. But...

1 **DR. EL SAHLY:** Dr. Bennink?

2 **DR. BENNINK:** Yeah. Two quick questions. The
3 first one is on where you were talking about the B
4 viruses. Did you consider anything about the Phuket in
5 terms of the egg-grown virus because that titer's going
6 down, anything different --

7 **DR. WENTWORTH:** Yeah.

8 **DR. BENNINK:** -- to improve it?

9 **DR. WENTWORTH:** We're looking very closely at
10 that. You might have noticed in our table, we have a
11 couple of new Phuket viruses, one a French Guiana
12 virus, and I can't remember the other one. But both of
13 them do have a little bit better egg properties but not
14 so substantially to warrant, you know, changing to
15 that, particularly when we don't know which way the
16 Phuket is going.

17 Obviously, it's under a lot of pressure being
18 so low across the entire globe. It may be in part due
19 to the wide sweeping of the Victoria viruses really
20 impacting the niche for that virus, I don't know. But

1 it's hard to choose something different. Updating the
2 Phuket could be a possibility if it continues to -- if
3 the egg continues to decline. Maybe updating in part
4 just because it would be a better egg virus may be a
5 good idea.

6 But it's very difficult if you update and then
7 the virus goes a different direction. We see some very
8 strange, you know -- of the very few Yamagata viruses
9 that are out there, there's some very strange ones that
10 have six mutations and really only cross-react with
11 highly polyclonal sera. But they're so few and far
12 between you can't pick them other than to maybe make an
13 egg virus or something like that.

14 **DR. BENNINK:** And the second question is to go
15 back to the H1N1. Do you have any -- and this is just
16 Phuket. Do you have any preliminary data or anything
17 that you can talk about that addresses serology and
18 comparisons that actually touch on the candidate
19 vaccines that you -- that are being suggested to us so
20 where we'd have some kind of an idea of, you know, what

1 to expect or how much better it is from the other, or
2 anything like that in terms of this?

3 **DR. WENTWORTH:** Right. Yeah.

4 **DR. BENNINK:** I know it's difficult because
5 they're usually at the last minute, but --

6 **DR. WENTWORTH:** The short answer to that is
7 really I don't have data other than to say when we, you
8 know -- it's hard to do the other analysis where you
9 immunize something with it and show that it works
10 better.

11 All I have is data saying these are the more
12 reduced groups, which is the 5B and the 5A with the
13 additional 187 and 189 substitutions. And that's the
14 major piece of data that says -- and the fact that, you
15 know, these are the viruses that predominate the
16 circulation globally, you know. In the race with
17 influenza to keep a little closer to that group of
18 virus is a good idea.

19 **DR. BENNINK:** Does the FDA have anything from
20 that as well with using the candidates? Any sera or

1 anything else?

2 **DR. WEIR:** Actually, I don't think we do at
3 this point. I mean, we saw the information just last
4 week, too.

5 **DR. EL SAHLY:** Okay. Dr. Meissner?

6 **DR. MEISSNER:** Yeah. I have a question I
7 think both Lisa and you can answer. The overall
8 vaccine effectiveness or the preliminary VE was pretty
9 good at 45 percent, and it was pretty narrow confidence
10 intervals. But looking at individual age groups such
11 as those over 50 years of age, the confidence intervals
12 got to be pretty wide.

13 Is it possible to break down who got
14 adjuvanted vaccine or who got high-dose vaccine, or are
15 the numbers simply too small to -- could that be an
16 explanation, in short, as to why the confidence
17 intervals are very -- are as wide as they are? And
18 then secondly, could you just remind me -- I think I
19 understand why you have both cell-based and egg-based
20 strains. And it's presumably, I guess, because one

1 grows better in eggs. But could you just say a few
2 words about that and why you selected that?

3 **DR. WENTWORTH:** I'm going to make a suggestion
4 to have Lisa start with the first part of your
5 question, and I'll take the second part of your
6 question.

7 **CAPT. GROHSKOPF:** That sounds good. So for
8 the issue with numbers, numbers always end up being an
9 issue within, I imagine, with any surveillance network
10 for VE, but, you know, I can speak particularly for
11 ours. As far as the specific question of adjuvanted
12 vaccine and, you know, knowing who got what kind of
13 vaccine, whether it was adjuvanted or other vaccine
14 types, we don't have any of that information yet. At
15 this point, this is a preliminary result, and, you
16 know, as time goes on, they will be going into
17 confirming what type of vaccine was received.

18 In the past, in general, it's been difficult
19 giving the numbers to get vaccine-specific estimates.
20 For the most part, probably the greatest success for

1 the greatest number of years was with LAIV versus
2 inactivated vaccines among children. But, for example,
3 there haven't even been that many years that have been
4 sufficient use, for example, of high-dose to provide a
5 separate high-dose estimate. The VE network sites are
6 not told which vaccines to procure and use, so it's not
7 something that is prescribed. So there's -- we
8 basically find out at the end what got used and
9 determine whether there are enough numbers.

10 There are smaller numbers obviously for, you
11 know -- the more we stratify whether it's by age or
12 type or subtype. When we get to H1 -- and this is, you
13 know, alluding to the question earlier, you know -- we
14 have relatively small numbers of H1 which is --
15 relatively small numbers for the older age category
16 which is why we had a collapse. And that's
17 unfortunate, I think, just the nature of the beast as
18 far as the surveillance and the VE network work goes.
19 They were able to break down for H1N1 the adults into
20 two different age groups, but, as you saw, 18 through

1 49 is still a relatively small category.

2 In the recently published estimates from
3 Canada where they had bigger numbers, they do have a
4 somewhat tighter estimate. I think, you know, all we
5 can do now is just see and watch as time goes on to see
6 as we get more numbers whether we can get more
7 precision in the estimate that we have.

8 **DR. WENTWORTH:** Okay. And so the second part
9 of your question related to cell vaccine strains versus
10 egg vaccine strains and why we have differences, this
11 is the first year where we've actually listed the cell
12 vaccine strains right at the header, and that's in part
13 to avoid confusion. They've actually been being
14 selected since cell vaccines were available. They've
15 just been in the reagent and CVV tables on the WHO
16 website, and so manufacturers of the various types of
17 products or manufacturers interested in making
18 something new could go and find the right virus to use.

19 In part, we used to get a lot of questions
20 about which is the proper cell one to use, and so

1 that's part of the reason they're now just officially
2 named, right along with the egg viruses. And that may
3 help academics as well discern some of these
4 differences. If you remember, only two of the WHO CCs
5 have the qualified manufacturing cell line available to
6 isolate cell CVVs from, and so what has to be done is
7 we have to name a cell prototype virus that can be
8 isolated in regular cells, either MDCKs for H1s or MDCK
9 SIATs for H3s, that any of the CCs can isolate and grow
10 in their own laboratories.

11 And so sometimes we disseminate one of those
12 regular cell culture viruses to all the CCs, if at all
13 possible. A good example is this case, the Hong
14 Kong/45. That was one of our serology engines used.
15 It was selected a long time ago when we saw that group
16 emerging. So we used it as a serology engine. We also
17 had disseminated it to all the other CCs so they could
18 make different ferret antisera against it and test
19 their viruses with it.

20 And so that allows us to have this kind of

1 cell candidate and, say, for example, its counterpart
2 Guangdong-Maonan/SLW/1530 -- I've probably forgotten
3 the number. But that one, if you look at the original
4 clinical specimen of the Hong Kong/45 and that virus in
5 the HA, they're the same. And so it's kind of the name
6 is different.

7 Now, as you illustrated, once you get an egg
8 isolate, you get additional substitutions. That virus
9 has substitutions at 225 and 186, which allow it to
10 replicate efficiently in eggs. And the cell culture
11 isolate for that virus actually had a mixture in it.
12 So the cell culture isolate for that particular egg
13 virus that had pretty good antigenic properties
14 couldn't be named because it wouldn't be a clean
15 antisera.

16 So in part they're named because only two CCs
17 can grow the cell CVVs, and they have to be passed in a
18 two-way antigenic test against a named prototype such
19 as Hong Kong/45 or Hawaii/70 in the H1N1s. I know it's
20 kind of confusing because we're breaking new ground

1 here, but that's how the system's working.

2 **DR. EL SAHLY:** I want to take this time just
3 to see if anyone else besides Lisa is on the phone and
4 if they have questions. Okay. Well, we earned a
5 break, a 10-minute break, and we will reconvene at
6 10:50. Thank you.

7 **[BREAK]**

8

9 **DOD VACCINE EFFECTIVENESS REPORT**

10

11 **DR. EL SAHLY:** Dr. Mark -- I'm sorry, Mark
12 Scheckelhoff from the Armed Services Health
13 Surveillance branch is going to review the Department
14 of Defense vaccine effectiveness report. Dr.
15 Scheckelhoff.

16 **CDR SCHECKELHOFF:** (Audio issues.) Is that
17 better? Am I on? Okay. So again, good morning.
18 Thank you for the opportunity to share the DOD
19 influenza surveillance data. As I mentioned, my name
20 is Mark Scheckelhoff. I'm with the Armed Forces Health

1 Surveillance Branch, the Globally Emerging Infections
2 Surveillance Program. Again, just as kind of was done
3 by CDC, this is going to be broken up into two
4 different sections. They'll be a brief discussion
5 about the circulating strains that we observed in DOD,
6 a discussion of the phylogeny of those viruses, and
7 then we'll switch topics and cover the vaccine
8 effectiveness.

9 So brief snapshot of the DOD surveillance
10 network, about 400 locations in over 30 countries
11 covering both U.S. military as well as foreign military
12 and some foreign civilian. It includes partnerships
13 with multiple ministries of healths and international
14 universities as part of that network. All of our
15 CONUS, so United States and overseas laboratories, do
16 have extensive characterization capabilities, at least
17 molecular detection, PCR, and sequencing capability as
18 well.

19 We share that data with CDC and WHO reference
20 centers, and that typically ends up being about 30,000

1 samples a year. We also have an epi analysis
2 capability, as I think many of you are aware. We have
3 a repository of all the DOD clinical data. And the epi
4 analysis group within Armed Forces Health Surveillance
5 is able to pull that data and query it to generate
6 these types of results.

7 This is just a quick snapshot of the map where
8 the different countries that contributed to the report
9 and the data that I'm going to be sharing with you
10 today. The stars are the kind of key laboratory
11 locations of DOD laboratories across the globe. So I
12 wanted to present this a little bit differently than
13 how I have in the past. This is a quick snapshot of
14 the circulating viruses that were detected and that are
15 going to be shared. I just want to share this briefly
16 to kind of provide a snapshot with the surveillance
17 network with the DOD. You notice that the blue are
18 H1N1, the red are H3N2, the green are influenza A not
19 subtyped, the purple are influenza B Victorias, and the
20 light blue are actually AB coinfections so. And

1 there's basically no Yamagatas detected.

2 I provide this first, as kind of an
3 introduction to say that, you know, we wanted to just
4 provide a quick snapshot to show that the DOD data that
5 we're observing is very similar to what the WHO has
6 presented for these particular countries. But also,
7 with the surveillance network, we don't have nearly as
8 many A un-subtyped. All of our locations are able to
9 provide subtyping so it provides a little bit different
10 level of resolution to that data.

11 So starting off with North America. As you
12 can see, the epi or the incidents of circulation is
13 very similar. Again, this is primarily United States,
14 although it does include some border populations. As
15 with the other presentations of data that you've seen
16 so far today, on the left axis is the number of
17 specimens. The epi week is the horizontal axis and
18 then on the right side, the percent positive. So
19 again, not really much difference. The influenza B was
20 predominating early in the season, and that's been

1 replaced predominantly with influenza A H1N1.

2 In South America, there was a slight
3 difference in the data that the DOD generated as
4 opposed to WHO. This is primarily from Peru, Paraguay,
5 Columbia, and Honduras. We see a slightly higher
6 proportion of influenza B in our populations. H1 and
7 H3 have kind of co-circulated in equivalent amounts,
8 but I think, with the WHO data, they've been observing
9 on those countries a little bit higher proportion of
10 H1N1 than we were seeing in ours.

11 For the European region, again, slightly
12 different. We see a slightly higher proportion of H1N1
13 in the countries that we're doing surveillance in,
14 which include Belgium, Germany, Italy, Spain, Turkey,
15 and the UK. Again, some of the WHO data is A un-
16 subtype, so, you know, that might be H1N1 that's
17 circulating and just not identified. We have seen
18 relatively consistent rates of influenza B also
19 circulating in that region, but I think that's
20 consistent with what other groups have seen.

1 In the Middle East, again, similar, we have a
2 little bit higher proportion of H1N1, but I think
3 that's because the other data is showing a lot of A un-
4 subtype, again, consistent circulation of influenza B.
5 And I should note, I think it's obvious at this point
6 that, when we're talking about B, we're obviously
7 talking about B Victoria lineage for all these
8 different groups. For East Africa, again, this one we
9 saw a little bit different pattern. We had a much
10 higher spike of influenza B early in the season. That
11 has kind of dwindled off, similar to what the WHO data
12 has seen.

13 And then so for East Africa this includes
14 primarily Kenya, Uganda, and Tanzania. And then West
15 Africa, the primary country we're looking at is Ghana.
16 This is basically identical to what WHO has shown. I
17 think basically our lab there is one of the kind of
18 primary contributors to that data, so.

19 And then finally looking at Asia, again,
20 fairly consistent with what the WHO has generated.

1 We've seen a little bit more proportion of H3N2 but
2 again, predominantly looking at the circulation of A
3 H1N1 in our Southeast Asia populations. And for this
4 data, we're primarily looking at Thailand, Cambodia,
5 Laos, Nepal, Bhutan, Philippines, as well as South
6 Korea and Japan.

7 Okay. So just quickly to summarize the
8 circulating subtypes that have been observed in the DOD
9 network, again, North America, predominantly United
10 States, there was the early predominance of influenza B
11 that was replaced later in the season by A H1N1. In
12 South America, we showed the predominance of influenza
13 B. While in Europe, again, H1N1 is predominating.
14 Asia, we did see some early predominance with A H3N2
15 with a more recent predominance of H1N1. In the Middle
16 East, it's been predominantly H1N1. And then with East
17 Africa, kind of a mixed predominance of B with a kind
18 of recent uptick in H3N2 as well as H1N1, and then in
19 West Africa it's been predominantly H3N2 in that
20 region.

1 So I wanted to now move into the phylogenetic
2 analysis. This has been performed and consolidated by
3 the United States Air Force School of Aerospace
4 Medicine, USAFSAM, the folks out at Wright-Patterson in
5 Dayton, Ohio. So this is just quick snapshot that
6 shows the total number of isolates that have been
7 sequenced, where they came from, and the subtypes. So
8 not surprisingly because, you know, we needed a little
9 bit of lead time to be able to have that data for this
10 discussion, the available strains for North America
11 were predominantly in the influenza B Victoria strains
12 with, you know -- we tried to get as many of the new
13 H1N1s that were emerging as we could. There's just
14 been low circulation of H3N2, so we don't, at least on
15 the North American side -- don't have a lot from there.

16 Unfortunately, we were only able to get H1N1
17 strains out of Africa. We weren't able to get any of
18 the H3N2 strains out of the West African, the Ghana
19 countries, where that's been predominating. But then
20 again in Europe and Asia, we got a little bit higher

1 proportions of H3N2 but, again, a fair proportion of
2 H1N1s as well.

3 So I want to start off with the influenza A
4 H1N1 hemagglutinin phylogenetic tree. So again, just a
5 little under 770 specimens that were sequenced, all
6 clade 6B.1A with that 183P substitution, similar to the
7 other data that's been demonstrated or displayed thus
8 far. Almost three-quarters of the subtype or subgroups
9 that we're identifying within the H1N1 6B.1A clade
10 belonged to the subgroup 5A. About 15 percent are from
11 the subgroup 5B, and a much smaller amount are within
12 the subgroup 7. So -- oh, yeah it's working.

13 So this is the 5A group here, this large group
14 here. The 5B are here, and the 7 are here. Also kind
15 of similar with the global trends, about 90 percent of
16 our 5A viruses have this D187A and Q189E substitution.
17 We've also been tracking a fair number with this K130N.
18 We don't have a lot of the N156K substitutions.

19 But some interesting things that I wanted to
20 highlight in this tree, so similar to the way Dr.

1 Wentworth presents it, you know, down here we're
2 looking at the month that these isolates were obtained.
3 The color-coding, orange for the African region, kind
4 of a pinkish color for the Middle East, green for
5 Europe, red for India and the Asian countries, and then
6 blue for North America, and then the black is a
7 reference. But we've also broken it up here based on
8 vaccination status. So each one of these triangles is
9 representative of the virus -- of the specific virus
10 that was sequenced and whether that patient or where
11 that specimen came from was either vaccinated in a blue
12 triangle or not vaccinated in this kind of pinkish
13 color, salmon-colored triangle. And then also if you
14 notice, there's little red Hs across the line of the
15 tree. Those were ones that we were able to identify as
16 being hospitalized or having severe disease.

17 So when you look across the tree, you see that
18 the vaccination status of these patients is fairly well
19 distributed in terms of there doesn't appear to be one
20 specific subgroup which is emerging or is breaking

1 through vaccination, at least nothing that you would be
2 able to consider any kind of -- with any kind of
3 statistical significance. I think interestingly, you
4 know, we were -- Dr. Wentworth was discussing this
5 N156K and showing there were some anagenic differences.

6 There does seem to be a bit of a clustering of
7 vaccinated individuals here that are still, you know,
8 coming down with influenza. There is also kind of
9 interestingly this, at the very top of the tree,
10 another one of these little clusterings where it looks
11 like there's a fairly concentrated group of vaccinated
12 individuals that are, again, kind of seeing a
13 breakthrough with infection. So interestingly, those
14 are coming from primarily our Southeast Asia countries.
15 This group seems predominantly to be from the North
16 American isolates.

17 So then just kind of summarizing the emergence
18 of the clades, I think this is consistent with what's
19 been seen on a global basis that the 6B.1a5A has kind
20 of -- sorry -- has been kind of slowly emerging as the

1 predominant H1N1 HA subgroup. 5B has -- we've kind of
2 continued to see it expand as well. And we haven't
3 really seen too much expansion out of the subclade 7.

4 Now moving on to the H3N2. So again, we only
5 had about 150 of these specimens from this season.
6 Again, similar to kind of the trends that have been
7 observed elsewhere, almost all of those are 3C.2a1b.
8 We saw very few 3C.3a viruses. Again, looking at the
9 kind of color coding, predominantly the ones that we
10 got were from Europe, United States, and then one from
11 Southeast Asia.

12 In looking at the kind of the much larger
13 group, the 3C.2a1b viruses we're tracking, about 70, 75
14 percent of those have this T131K substitution that was,
15 you know, again discussed by Dr. Wentworth. We see a
16 slightly smaller proportion that have the T135K, and we
17 really haven't seen, at least to this point, many of
18 those viruses that also have the additional S137F and
19 those other substitutions that Dr. Wentworth was
20 discussion. And I think we were -- yeah. So we see a

1 very, again, very kind of small portion of those that
2 we've sequenced thus far that have those additional
3 substitutions. So the predominantly, what we're
4 observing in our populations is the bulk of them have
5 the T131K.

6 And then also similarly, you know, just kind
7 of looking back over the past couple seasons and the
8 trends within the H3N2, you know, we kind of discussed
9 this in a bit in the questions from the last talk with
10 Dr. Wentworth. You know, we've seen this kind of trend
11 of the 3C.1a1b's that have always been kind of
12 lingering and hanging around. We saw that emergence of
13 the 3C.3a viruses late last season or a little bit
14 later in the 18 -- '17-'18 season but then the huge
15 expansion of that in last season. And then during this
16 season, we've seen almost no circulation of those
17 amongst our populations. We've really -- even though
18 the numbers are relatively low, it's been predominantly
19 the 3C.2a1b.

20 In looking at the influenza B Victoria, again,

1 we've had about a thousand isolates for sequencing,
2 again, due to that early spike in influenza B Victoria
3 cases. Almost a little over 95 percent of the isolates
4 that we've sequenced are the B1A3, the 3-deletion
5 strain. Only, you know, a very small proportion is
6 still the B1.1a1, the two-deletion strain.

7 Again, looking at the, you know, those that
8 are vaccinated versus unvaccinated and those that are
9 hospitalized, we saw 19 collected from hospitalized
10 patients. You know, basically all of those were from
11 the three-deletion strain. Almost all of ours has the
12 G133R and K136E substitutions, and about half of them
13 also have this additional E128K substitution. We did
14 have ten Yamagata specimens that were collected, and
15 they were all the same clade. Again, we didn't bother
16 putting the data on because that tree is fairly
17 nondescript at this point.

18 Okay. So again, just reviewing the
19 circulation and kind of the emergence of these clades,
20 you know, as we discussed last year, you know, we were

1 seeing some of the three deletions in our Southeast
2 Asian populations. It was still -- at the time of this
3 meeting, was still kind of consistent with or
4 proportional to the number of the B1A1 strains. And
5 then obviously the circulation changed dramatically,
6 and we see much higher incidents in the current season.

7 So this is surface protein similarity. This
8 is basically the average protein similarity based on
9 the month, so cumulative for all the isolates that were
10 sequenced in the given month and then color-coded based
11 on the different viruses. So again, Yamagata's
12 typically the highest because there hasn't been much
13 divergence. Kind of not surprisingly, the H3N2 tends
14 to be the lowest because of the vaccine strain being
15 the 3C.3a, and the predominantly circulating strains
16 are the 3C.2alb's. But then you do see, I think,
17 predominantly because there has been a couple 3C.3a
18 isolates that have popped up lately, you actually see
19 some increase in the overall similarity of those
20 viruses later in the season.

1 So this is -- I don't want a spend a lot of
2 time on this. This is just a -- it's -- on the left
3 you have the H1N1 viruses, on the right the
4 B/Victorias. On the left side of the panel is the
5 hemagglutinin. On the right side, the neuraminidase
6 and the same for the Victoria.

7 Basically, this is just looking for major
8 reassortant types of activities. The fact that there
9 are some kind of crossing bands but they're all within
10 the same clade suggests that there's no major
11 reassortments going on. Again, this is kind of a rough
12 sketch, but what it -- the takeaway message, in brief,
13 is just that, amongst the viruses, that we're
14 sequencing, there doesn't seem to be a major
15 reassortment.

16 Okay. I just want to go through these very
17 briefly. Some -- we've stood up a capability to do
18 microneutralizations so we could start to look at some
19 of the reactivity of the viruses that we're isolating
20 across our network, first for H1N1, so looking again at

1 reference strains from the current vaccine and some of
2 the previous historical strains to viruses that were
3 isolated over the course of this past year. These
4 first, I think, four are 5B clade. And then you have
5 the next about six that are the 5A clade, or subclade.
6 And then these last two here are from the subclade 7.

7 I think what you can basically kind of see,
8 we're still working through some technical issues with
9 the assay that have kind of caused some overly inflated
10 numbers here, but we are seeing some decent similarity
11 and reactivity of the current strains despite, you
12 know, the emergence and kind of divergence that we're
13 seeing on the phylogeny. We are still observing a fair
14 amount of reactivity and, as you would expect, you
15 know, more similar to the Brisbane strain than, you
16 know, the previous Michigan and California strains that
17 are, you know, a little bit more antigenically
18 distinct. Then similarly for the H3N2, again, we
19 didn't have a lot of numbers to work with for this
20 analysis.

1 Essentially, these first five are -- these are
2 all 3C.2alb. The first five or so are the T131K
3 substitution viruses, and then we have, I think, these
4 two are actually the T135K. But again, you know, kind
5 of surprisingly, we're still seeing a fair degree of
6 similarity or, you know, reactivity with the
7 circulating viruses to the vaccine strain and to the
8 historical strains despite the fact that it is
9 antigenically very, very different.

10 And then the B/Victoria, again, I think this
11 has been kind of a surprising result that other people
12 have indicated that, despite the fact that the two-del
13 and the three-del, you know, are pretty different. You
14 know, all of these are 3-deletion strains, but we're
15 still seeing at least some level of reactivity amongst
16 the viruses that are in circulation. When you look at
17 just a kind of brief snapshot in terms of how you're
18 defining it, again, caveat this with this is still some
19 preliminary data, but primarily the H1N1s that we're
20 looking at still seem to be fairly reactive to the

1 vaccine strain. H3N2, most of them are and same with
2 Victoria. We did have one Yamagata in there, I forgot
3 to mention, and that, of course, was very similar to
4 the vaccine strain because there hasn't been really any
5 divergence.

6 Okay. So transitioning to the vaccine
7 estimates, so these are mid-year estimates provided in
8 part by, again, the folks at USAFSAM and NHRC, Naval
9 Health Research Center, as well as the Epidemiology and
10 Analysis Section at AFHSB. These are all case test
11 negative control studies, all studies, again, using
12 verified positives. There is a slight difference in
13 terms of whether rapid positives or strictly RT-PCR was
14 used for the method, but I'll cover that with each
15 section. Oh, yeah, it's right there.

16 So essentially the USAFSAM analysis included
17 only PCR viral culture. The AFHSB, which is the
18 service member VE estimate, also used positive rapid
19 tests but excluded rapid test negative. Then that
20 analysis, we performed it for all influenza types and

1 subtypes that, you know, essentially our data would
2 allow for.

3 So starting off with USAFSAM analysis. So
4 this population includes DOD beneficiaries as well as
5 some civilian populations along the U.S-Mexico border
6 that sought care in some of those remote clinics.
7 These are adjusted estimates for effectiveness. Again,
8 this does not include service members.

9 We were able to do the analysis overall
10 influenza and then by B, all told B. We didn't have
11 enough Yamagata, obviously -- by A across any subtype,
12 and then specifically for a H1 and then a H3N2. One
13 caveat, again, relatively low numbers of H3N2, so we
14 didn't have enough data for the higher age group for
15 that. And then the data was adjusted for age, time of
16 specimen collection, location, and gender.

17 This is just a quick snapshot of the
18 populations itself and the cases of influenza that were
19 observed as part of the study. So again, these are
20 laboratory positives, people that have sought care at a

1 local MTF. Again, predominantly amongst the cases and
2 controls, influenza B was the predominating strain, A
3 H1N1 coming up right behind it.

4 We had a little over 1,500 cases, again,
5 confirmed by RTPCR and culture. Controls, a little
6 over 2,100 test negative. Vaccination rates of cases
7 was about 43 percent. Vaccinate rate of control was
8 about 57 percent. Again, proportions among the cases,
9 specifically of total influenza, are similar to what
10 you would expect based on the total number of influenza
11 cases in the entire population.

12 Again, just to further breakdown of the
13 populations, so I just want to jump right into the
14 actual results. So in looking at our VE estimates
15 among, again, beneficiaries and some additional
16 civilian populations, overall, so not discriminating
17 between influenza A or influenza B, we see rates of
18 about, you know, 54 for all age groups for children.
19 So under the age of 18, it's about 47 and then for
20 adults around 48 percent.

1 When looking specifically at B, again, B
2 overall -- it hovers around 50 percent and then within
3 the child and the adult populations, again, right
4 around the 50 percent range. A for A-all subtypes,
5 again, not discriminating based on age group, just
6 overall, it's about 45 percent. The children -- the
7 rate of VE in children is a little bit lower at about
8 38, and we're actually seeing a little bit higher rate
9 in the adults at 55 percent, which is, you know, pretty
10 different than what we were just discussing with the
11 CDC data.

12 One thing I will just quickly mention, if you
13 look at the -- our total number of cases in those, we
14 do have a fairly larger number of cases that we're
15 pulling from for this analysis. So it may be that
16 that's part of the reason why we're, again, starting to
17 see a little bit higher rate than what's being reported
18 by some other groups. And then --

19 **DR. EL SAHLY:** Dr. Scheckelhoff, if I may
20 interject, I see you are almost halfway through the

1 talk, but we are already way over time.

2 **CDR SCHECKELHOFF:** Oh.

3 **DR. EL SAHLY:** I wonder if you have summary
4 slides--

5 **CDR SCHECKELHOFF:** Sure.

6 **DR. EL SAHLY:** -- that you can share instead?
7 Sorry for this.

8 **CDR SCHECKELHOFF:** Yeah. So we can go on
9 to -- again, service member, this is something that
10 we've discussed before. Essentially, because this is
11 such a highly vaccinated population, when you look at
12 the vaccine effectiveness for these different groups,
13 you see very low levels of VE for, especially, the
14 influenza A subtypes. Because the influenza HAH3N2 has
15 been kind of sporadic throughout the season, we're
16 actually getting better estimates on the VE for those
17 specific populations.

18 I will note that the analysis for the service
19 members for A overall and A H1N1 was limited to the
20 last two months basically because there was no H1N1

1 circulation early in the season. So it wasn't deemed
2 to be a fair comparison to identify those or include
3 that those first couple months of the season when there
4 was no circulation of H1N1 and there was basically no
5 opportunity. And when those dates -- when that
6 additional data was added in, it further skewed the
7 numbers. So we are seeing a significant level of
8 protection for the H3N2s, again, not for A and not for
9 the influenza B except for well -- I'm sorry. In the
10 adjusted B, we do see a low to moderate level of
11 protection.

12 So this is, again, just the overall snapshot
13 of the VE dependence. We see, you know, moderate
14 protection in most of the populations, except when
15 you're talking specifically about service members,
16 which again, highly vaccinated population. So with the
17 A overall and the A H1N2, you don't see significant
18 levels of population service members. You do see it in
19 the AH3N2 as well as kind of low to moderate protection
20 in influenza B. And that just summarizes that.

1 So then just, you know, basically to wrap up,
2 we had to submit these prior to the WHO coming out with
3 their recommendation, but our recommendation was
4 essentially the same. Identifying a 6B.1a subgroup 5A
5 representative virus with those two amino acid
6 substitutions which was the selection. Consider
7 converting back to the 3C.2a1b clade virus, which,
8 again, was the selection by WHO converting to a three-
9 del representative virus, which the Washington strain
10 also accomplishes that. And then sticking with the
11 current B/Phuket strain.

12 Okay. So thank you. Just -- this is the work
13 of a very large consortium of people, so I just want to
14 take moment to thank them, especially the folks at
15 NHRC, USAFSAM, and all the folks at Armed Forces Health
16 Surveillance Branch.

17

18

QUESTIONS AND ANSWERS

19

20

DR. EL SAHLY: Anyone has -- we probably have

1 room for one question. Dr. Offit.

2 **DR. OFFIT:** When we first had trivalent
3 vaccines, we would have always an H1N1, H3N2, and B
4 vaccine representative. And when we had a quadrivalent
5 vaccine, we added a second B. But in theory, there's
6 no reason that we have to do it that way, right? I
7 mean, if we thought for example that there were two
8 clones of H1N1 or two clones of H3N2 or two clones of B
9 that we thought were important and that we would think
10 one say, Yamagata strain, was not going to be an
11 important player next year.

12 We don't have to lock into that paradigm,
13 right? So -- but it seems to me we always do it that
14 way. I mean, just -- I guess this question is for you
15 and Dave and Hana. Don't we have the option to do
16 something different?

17 **CDR SCHECKELHOFF:** Yeah, we do. I think the
18 primary question -- and I think we discussed this last
19 season here -- was the level of regulation and approval
20 that it would have to go through because that would be

1 considered a major -- so I guess I would defer to the
2 FDA in terms of the process that would be needed
3 because I thought there was some additional steps that
4 would be required if we made a dramatic shift, not just
5 in the subclade of the virus but actually the -- more
6 of the composition of the virus itself. Is that not
7 accurate? Am I misremembering the conversation from
8 last year?

9 **DR. EL SAHLY:** We did bring up multiclade
10 vaccines for H3N2, but I don't know that we got
11 anywhere.

12 **CDR SCHECKELHOFF:** Yeah. To answer the
13 question, yes, it's certainly something to consider. I
14 think maybe part of the conversation that we had last
15 year was because the WHO had postponed the selection of
16 the H3N2 component. We were already kind of behind in
17 terms of the manufacturing processes and making sure
18 that it was available to then have to kind of reconvene
19 and decide what that fourth component of the vaccine
20 would be, depending on which way the WHO went with

1 their H3N2.

2 But in my mind, you know, circulation of
3 Yamagata has been very low in most of the populations
4 we're looking at so does it make more sense? I think
5 Dr. Wentworth made a good point that, you know, I think
6 we're seeing some relatively good levels of protection
7 with the H3N2, primarily because of memory. So now
8 that we've exposed people to the 3C.3a vaccine this
9 year and they had some exposure last year then, you
10 know, maybe some of that memory will also kind of carry
11 over for those strains.

12 **DR. OFFIT:** But you could, you or Dave could
13 say, look, we think that there are possible two
14 circulating clades for H1N1, H3N2 that should be
15 considered for inclusion in the vaccine, right? I
16 mean, you could in theory say that.

17 **DR. WENTWORTH:** Well, I think I'm going to
18 turn it over to Dr. Weir, but basically there is a
19 formulation change if you are including two H3s.

20 **DR. WEIR:** Yeah, I think you're right. I was

1 having a little trouble following what you were getting
2 at.

3 **DR. WENTWORTH:** Oh, sorry.

4 **DR. WEIR:** But no, changing something like
5 that would require a complete change to the
6 manufacturer's license. They would have to have
7 clinical data to support it, for example. So no, what
8 they are licensed for now for a quadrivalent is an
9 influenza AH1 plus an influenza AH3 plus the two Bs.
10 Mixing that in some other way, again, they would have
11 to have clinical data to change their license, just
12 like they had to have clinical data to add the second B
13 strain to their trivalent license.

14 **DR. WENTWORTH:** But if it were done, it would
15 be possible to made recommendations for such a thing.

16 **DR. EL SAHLY:** All right. Thank you everyone.

17 **CDR SCHECKELHOFF:** Thank you.

18 **DR. EL SAHLY:** Thank you, Dr. Scheckelhoff.

19 Dr. Manju Joshi, the lead biologist at the Division of
20 Biological Standards and Quality at the Office of

1 Compliance and Biological Equality, CBER, FDA will go
2 over the candidate vaccine strains and potency agents.

3

4 **CANDIDATE VACCINE STRAINS & POTENCY REAGENTS**

5

6 **DR. JOSHI:** Getting close to the lunchtime and
7 we are, I'm sure, running short on time so I will try
8 to keep it short. Excuse me, is this pointer not
9 working? Okay. That's okay.

10 So I'll give you a quick update about the
11 candidate vaccine strains and potency reagents for
12 2020-21 northern hemisphere influenza season. You have
13 been hearing all the strain names from the first thing
14 in the morning, so I'll try to keep them as short as
15 possible, so we save time. So during my talk, I'm
16 going to cover four different things. I'll give you a
17 list of currently used northern hemisphere vaccine
18 viruses and what are WHO recommendations for the
19 upcoming northern hemisphere campaign for both
20 trivalent and quadrivalent vaccine.

1 I'll give you an idea about what is the status
2 of available potency reagents for each of the viruses
3 that are recommended. How do -- and lastly two points,
4 I would like to -- that are not so much to the
5 committee but for the other audience, the manufacturers
6 in the group here, for how we are planning for the '20-
7 '21 campaign and some general comments which help us in
8 running the operation very smooth.

9 So coming to the H1N1 strain influenza A
10 strain, the current vaccine virus was the
11 A/Brisbane/02/2018, pdm09-like viruses. And as all of
12 us know, that for egg-derived vaccine IVR-190
13 reassortant for A/Brisbane/02/2018 was used, for cell-
14 derived vaccine and A/Idaho/07 was used. And a virus
15 sequences from A/Brisbane/02/2018 was used for the
16 recombinant HA vaccine.

17 So last week, WHO recommended the new strains
18 and the recommendation is for egg-derived vaccine for
19 the upcoming northern hemisphere season be A/Guangdong-
20 Maonan SWL1536 like virus. A candidate vaccine virus

1 which is the reassortant CNIC-1909 is available. For
2 cell culture and recombinant vaccine, WHO recommends
3 A/Hawaii/70/2019-like virus. And currently a two-
4 candidate vaccine virus, the cell culture derived one
5 available is the A/Nebraska/14/2019.

6 If the committee approves of inclusion of
7 these WHO recommended strains in the vaccine, reagents
8 will be needed for the future testing, and CBER will
9 work with essential regulatory laboratories and
10 manufacturers to prepare and calibrate the required
11 reference antigens. And we are already planning for
12 the sheep sera production.

13 Coming to the H3N2 influenza A strain, for the
14 2019-20 season, A/Kansas/14/2017-like virus were
15 recommended. For egg derived vaccine, reassortant X-
16 327 for A/Kansas/14 was used. For cell-derived
17 vaccine, A/Indiana/08/2018 virus was used, and,
18 similarly, for a recombinant vaccine A/Kansas sequences
19 were used.

20 WHO recommends that virus for 2020-21 northern

1 hemisphere campaign include A/Hong Kong/2671/2019 virus
2 for egg-derived vaccine. And currently, a reassortant
3 NIB-121 is available for this virus. The
4 recommendation for cell and recombinant vaccine
5 includes a different A/Hong Kong, which is A/Hong
6 Kong/45/2019-like virus, and currently a candidate
7 vaccine virus available out of A/Delaware and
8 A/Minnesota/41. Again, I will emphasize if today
9 committee decides that this be the part of the vaccine,
10 we will work your ERLs and manufacturers to prepare and
11 calibrate the required reference antigens. And we are
12 already thinking, because it's a very quick turnaround
13 on everything and we are to be upfront -- so we are
14 already planning about the sheep sera.

15 Coming to the influenza B from B/Victoria
16 lineage, for 2019-20 season, B/Colorado/06/2019-like
17 virus was recommended and B/Maryland/15 wild type and
18 it's reassortant BX-69 were used by vaccine
19 manufacturers for egg-derived vaccines. For cell
20 vaccines, a B/Iowa/06/2017 were used, and, for the

1 recombinant vaccine B/Maryland/15 sequences were used.

2 During the 2020 southern hemisphere season,
3 there was a change recommended, and for southern
4 hemisphere campaign, WHO had recommended the
5 B/Washington/02/2000-like virus. And come for the
6 upcoming '20-'21 northern hemisphere campaign also, the
7 recommendation says that the B/Washington, B/Victoria
8 lineage virus for a trivalent vaccine. So since it was
9 recommended for southern hemisphere, it is in a better
10 shape. We have things ready. Currently, a wild type
11 virus for B/Washington, as well as for
12 B/Victoria/705/2018 virus and its reassortant BVR-11,
13 are available. And there are several other additional
14 candidate vaccine viruses are available for this strain
15 which can be exist -- a list can be checked at the WHO
16 website I have cited here.

17 For cell culture-derived vaccine, the
18 recommended virus sublevel is B/Darwin/07/2019 and
19 similarly for a recombinant vaccine in B/Washington/02
20 wild type sequence can be used. So since it was

1 recommended for southern hemisphere, all of our ERLs
2 have worked towards making the reagents. And here is
3 the current status of the potency reagents that are
4 available from CBER and other ERLs.

5 CBER and both in CBER and NIBSC have prepared
6 reference antigen for B/Washington wild type viruses,
7 and they are available. CBER has the reference antigen
8 lot and the first antiserum lot 1914. And we have
9 additional lot with preparation already planned for it.

10 For B/Victoria BVR-11 reassortant, both to
11 ERLs DG and NIBSC have prepared reference antigens, and
12 antisera and they are available from them. Similarly
13 for the cell-derived B agents for B/Darwin/07/2019,
14 NIBSC had prepared reagents during the southern
15 hemisphere campaign. And CBER has also prepared these
16 reagents, and they are under calibration right now.
17 And at the same time, we are working currently to have
18 the reference antigen reagent calibrated for
19 B/Washington recombinant HA vaccine platform.

20 Coming to the influenza B from the B Yamagata

1 lineage, all of us have been with B/Phuket forever.
2 I'm sure a lot of people wanted it to go away sometime,
3 but it just stays with us forever. And since it has
4 been around, things are in a better shape. The various
5 candidate vaccine viruses which are being used were the
6 B/Phuket wild type and its reassortant, BVR01B. For
7 last year for cell culture vaccine, B/Singapore/INFTT-
8 16-0610/2016 was used, and similarly B/Phuket sequences
9 were used for the recombinant vaccine.

10 WHO recommends that for the quadrivalent
11 vaccine the second B strain, B from the B/Phuket-like
12 strain from Yamagata lineage. So if you go to the WHO
13 website, there are a whole list of all the level
14 candidate vaccine viruses. Coming to the availability
15 of reagents for vaccine testing, these reagents have a
16 lot available from CBER and other ERLs. Wild type
17 B/Phuket have been available from CBER since it was
18 first introduced in the vaccine. Both reference
19 antigen and several lots of antiserum are available.

20 Just for the sake of convenience, I have

1 pointed out that we have all lots with asterisks up
2 there because those lots are really getting depleted,
3 but we do have a new lot already ready. Both NIBC,
4 TGN, NIID also have the reagents for wild type
5 B/Phuket. For BVR-1B, TGN had prepared last year a
6 reagent, and we had helped them with the calibration of
7 the reagent. For B/Singapore/INFTT, the cell-derived
8 candidate CBER had prepared the reagent, and those are
9 available.

10 There was another virus which was not -- was
11 used at some point, which is B/Utah-like, which is also
12 a B/Phuket like virus. And we do have reagent for that
13 as well. And currently, CBER is in process of
14 calibrating a reagent for B/Phuket for the recombinant
15 HA platform.

16 So just the last two slides, quick one is not
17 so much for the committee but it is for the
18 manufacturers who are in the audience. We want to make
19 sure that our flu campaign runs smooth. I know all of
20 us work under a very tight timelines to achieve one

1 single goal that we can have vaccine being delivered in
2 the right timeframe to the public. So I would like to
3 request to all the manufacturers, whoever is in the
4 audience is, that they should be able to provide us the
5 information regarding the strains they plan to use --
6 well, once they are selected by the committee today --
7 which reassortant they are trying to focus on, what are
8 their plans about reference antigen, which reference
9 antigen and lot numbers to use.

10 And I want to emphasize that it is very
11 important for us to have this information so that we
12 can plan our campaign and out activities here at the
13 DBSQ CR duration, as far as reagent calibration process
14 is concerned. If you are using some reagents from
15 other years, we have to make sure that we import those
16 reagents so there are no delays in vaccine testing.
17 As all of you know, we do your drug substance, the
18 monovalent testing as well, so it's very important for
19 us to plan that. And subsequently, all the lots need
20 to be tested, which comes in the second phase. So we

1 want to be prepared for it, and we would like to have
2 this information so we can organize the whole program
3 well and everything runs smooth.

4 And just lastly, like every year, I would like
5 to make a few comment. As manufacturers, please
6 remember that only CBER authorized reagents should be
7 used in the test potency of vaccine marketed in U.S.,
8 so please consult with us when you are picking up
9 reagents. And when you send our monovalent sample,
10 please submit it to my attention, email me and those I
11 have listed on the list so that we know how the whole
12 process is running.

13 If you have any inquiries regarding CBER
14 recommended standards and reagents, please contact CBER
15 standards. I have provided you the website. And
16 importantly, send us any feedback comments on the
17 suitability or use of reagents or any questions you
18 have because we have influenza feedback site in the
19 mailbox up here, and we would be happy to help you out
20 with that. So thank you.

1 **DR. EL SAHLY:** Thank you, Dr. Joshi. Any
2 questions for Dr. Joshi? All right. Thank you.

3 **DR. JOSHI:** Thank you.

4 **DR. EL SAHLY:** Comments from manufacturer
5 representative will be given by Dr. Penny Post. Dr.
6 Penny Post is head of Regulatory Affairs at Sanofi
7 Pasteur.

8

9 **COMMENTS FROM MANUFACTURER REPRESENTATIVES**

10

11 **DR. POST:** Thank you. Good morning. I'll
12 also try to be brief, since this is the last talk
13 before lunch, and we're running a bit behind. So
14 first, I'd like to thank VRBPAC and the FDA for the
15 opportunity to share the industry perspective on
16 influenza virus vaccine manufacturing. I'm making this
17 presentation on behalf of all manufacturers who supply
18 influenza vaccine to the U.S. market. These are
19 AstraZeneca, Seqirus, GSK, Protein Sciences, and
20 Sanaofi Pasteur. Each manufacturer has contributed to

1 this presentation.

2 So today, I'd like to give you an overview of
3 our vaccine production, release, and distribution
4 timelines, the preparations that we make with the
5 public health service organizations throughout the
6 year, and insight into the challenges that we face as
7 vaccine manufacturers. Let's see if I got
8 this -- okay. So as you heard at the beginning of the
9 meeting, I'm required to disclose to you that I'm
10 employed by Sanaofi, and I own stock in the company.

11 Okay. So we as vaccine manufacturers consider
12 ourselves partners with the public health service to
13 help protect against influenza, and we appreciate the
14 challenges today in selecting the best strains for the
15 vaccine to be used in the next influenza season. This
16 requires the balancing of three objectives.

17 It is, of course, a top priority to have a
18 vaccine that's well-matched to the circulating strains.
19 The time needed to select the best strain needs to be
20 balanced with the time needed to produce and to

1 distribute the vaccine before the start of the
2 influenza season; however, we cannot predict exactly
3 when the influenza season will begin. In some years,
4 this may be as late as late October and, in others,
5 late December. Lastly, we need the time to be able to
6 produce enough influenza vaccine to immunize all those
7 for whom vaccination is recommended. So basically, we
8 want a well-matched vaccine before the start of the
9 influenza season and enough vaccine to produce -- to
10 protect all those who need it.

11 The amount of vaccine that has been
12 distributed over time has been steadily increasing.
13 The left graph here on the slide shows an impressive
14 steady rise in total doses that have been distributed
15 by the vaccine manufacturers over the past nearly 40
16 years. The right panel shows the pattern of vaccine
17 distribution over the course of this past season, where
18 distribution is largely completed by November. To date
19 this season, over 174 million doses have been
20 distributed, which is the highest annual amount for a

1 seasonal influenza vaccine.

2 Vaccine supply requires timely selection of
3 well-matched strains, time to manufacture enough supply
4 to meet this demand, and timely pre-seasoned
5 distribution. Seasonal influenza vaccine supply is
6 analogous to a relay race, where members of the team
7 take turns performing their roles. The race starts
8 with the viral strain work within the collaborating
9 centers, the essential regulatory laboratories, and the
10 high yield reassortant labs who then hand off to the
11 manufacturers.

12 So key in a relay race -- key to winning is
13 that the receiving running starts running before the
14 handoff, so manufactures start producing vaccine at
15 risk to be at full speed when the handoff to us occurs
16 of the new strains and the new formation. There are
17 special challenges for influenza in this relay, which
18 include multiple batons in the race, such as multiple
19 candidate vaccine viruses, multiple reagents, and
20 multiple vaccine types, and multiple providers,

1 essential regulatory labs and high yield reassortant
2 labs. In addition, we have hurdles in this race. We
3 have manufacturing timelines.

4 The number of doses is rising year after year,
5 and timelines are very compressed to be able to
6 manufacture and distribute the vaccine. Moreover,
7 today's manufacturers are largely supplying
8 quadrivalent formulation and no longer trivalent or no
9 longer just trivalent, which requires production of a
10 fourth vaccine antigen. The Nagoya Protocol, which
11 I'll discuss in more detail in a couple of slides,
12 threatens timely availability of the best matched virus
13 or DNA sequence.

14 Delayed changes is another hurdle, such as a
15 delayed H3N2 strain selection in 2019, and unexpected
16 changes, another hurdle, such as last year's unexpected
17 H1N1 strain selection. Also of note, the market has
18 moved towards specialized or customized vaccines over
19 the past decade. And the more differentiated the
20 vaccine the more sensitive it may be to delays, which

1 could create challenges in certain parts of the
2 population who use those vaccines.

3 This slide gives you a snapshot view of the
4 main activities each season that are done to achieve
5 the U.S. supply timeline. In order to meet vaccine
6 demand and to be ready for the baton handoff,
7 manufacturers begin to produce at least one of the three
8 or four vaccine components at risk prior to the vaccine
9 strain selection meetings using surveillance data that
10 is available at the time. Once the annual strain
11 selecting meeting occurs, as we've been talking about
12 the WHO on February 28th this year and this meeting
13 today for the U.S., production of all vaccine
14 components begins and production of potency released
15 reagents begins for any new strains, as we just heard
16 from Manju's talk. If there's a strain change, new
17 working virus vaccine seeds need to be produced.

18 Balancing manufacturing is done later in the
19 summer to ensure that we have equal amounts of each
20 vaccine component produced. Antigen yields from the

1 least productive vaccine strain are the rate limiting
2 factor and determine the number of vaccine doses that
3 are supplied and the supply timelines. We need potency
4 reagents to accurately blend the vaccine components
5 and, therefore, need to wait until these are available
6 from the health authorities.

7 Vaccine is then packaged and distributed, and
8 this process extends into the fall when vaccination is
9 recommendation. So you can see it takes about six
10 months to manufacture, release, and distribute the
11 volume of vaccine doses required for the season. The
12 number of doses is rising, and timelines are very
13 compressed as reminded in the inset graph there on the
14 bottom right corner. Additionally, as I mentioned
15 earlier, today manufacturers are largely supply
16 quadrivalent formulation which requires production of
17 that fourth vaccine antigen.

18 So in summary, influenza vaccine manufacturer
19 is determined by the need to distribute and administer
20 vaccine well before the season peak, the availability

1 of the candidate vaccine viruses, strain materials, and
2 critical potency reagents for the vaccine suppliers.
3 And note, too, that the number of doses is rising over
4 time. So if anything slips in this timeline, it will
5 impact vaccine delivery for the annual vaccination
6 campaign, which is the relay race analogy here.

7 Okay. For the next slide -- so as you can see
8 with this tight timeline, for industry, unexpected
9 changes add more risk. Last year's H3N2 strain
10 selection was postponed by about four weeks by the WHO
11 and about two-and-a-half weeks by VRBPAC. Human sera
12 data are being used to ensure the best strain selection
13 as we've been looking at today, but sera become
14 available late in the process.

15 Industry starts to manufacture before the
16 strain selection to ensure that the last doses can be
17 delivered in time for the annual vaccination campaign,
18 which largely ends in November. If the wrong strain is
19 produced at risk, that material is lost and new product
20 needs to be produced. Moreover, if the fourth strain

1 produced late in the season is of low yield, it can be
2 difficult to manufacture enough of that monovalent bulk
3 antigen to keep pace with the formulation activities.
4 And finally, filling capacity for vaccine drug product
5 is reserved in advance, with many of us producing in
6 multiproduct facilities or contract manufacturing
7 facilities.

8 There was a survey done of manufacturers on
9 the impact of the late H3N2 strain selection that
10 occurred last year. This was done for WHO. I know
11 this is a busy slide, and I don't expect you to read it
12 all. But I wanted to focus on some of the highlights
13 here of the slide.

14 The impact of the delayed strain selection
15 depended on the manufacturer and the vaccine type being
16 produced. Feedback included -- there's some quotes
17 from the slide. Completion of the campaign is extended
18 by several weeks. First doses, while on time, will be
19 of reduced volume, and the campaign will take two to
20 four weeks longer. Mitigation of this risk was

1 possible by accepting additional costs and taking
2 additional calculated risk and increased expenses by
3 about 30 percent more.

4 So ultimately, last season vaccine
5 distribution was timely but only due to factors that
6 were outside the control of industry. So for instance,
7 it was fortunate that the earliest available candidate
8 vaccine virus was generally acceptable for use in
9 manufacturing, although not for all manufacturers. It
10 was also fortunate that calibrated potency reagents
11 became available around the same time as in other years
12 for the new antigen strain. So we didn't experience a
13 delay there. And we were also fortunate that the
14 source country of the candidate vaccine virus was not a
15 signatory to the Nagoya Protocol and therefore did not
16 delay strain availability or use of its genetic
17 sequence.

18 Well, this slide we've been talking about
19 today depicts the northern hemisphere strain
20 recommendations over the past few years, with the

1 strains that have been changing over the years from the
2 previous formulations shown in red. The last column
3 shows the strain selected last week by WHO for the
4 2020-21 season. And for the first time, a cell and
5 recombinant vaccine H1N1 strain was selected that
6 differs from that recommended for the egg-based vaccine
7 formulation.

8 So also to give you an idea of the work behind
9 this, approximately 100 different viruses are evaluated
10 annually by manufacturers, reassortant labs, and health
11 authorities to be ready to provide the stocks for
12 manufacturing. So we've been discussing the Nagoya
13 Protocol over the past couple of years in our industry
14 presentation, and I wanted to give you an update at
15 today's meeting. As a little background, the Nagoya
16 Protocol was developed from access and sharing
17 discussions at the Convention on Biodiversity and has
18 come into force in 2014 when the 50th region ratified
19 the protocol. The objectives are to ensure access to
20 genetic and related traditional knowledge for potential

1 use and ensure users and providers of genetic resources
2 and related traditional knowledge agree on fair and
3 equitable sharing of benefits arising from their use.
4 These benefits may be monetary or nonmonetary.

5 This was initially developed for agricultural
6 purposes, but it also covers viruses. Seasonal
7 influenza virus strain sharing is in scope of the
8 protocol, but pandemic influenza viruses are exempt.
9 Under the Nagoya Protocol, national consent to access
10 genetic resources is required and pre-agreed terms for
11 fair, equitable benefit sharing prior to R&D work.
12 Failure to comply may lead to accusation of biopiracy,
13 litigation, product restrictions, a claim on income, or
14 a halt in orders.

15 As of February 2020, 123 countries have
16 ratified and entered the Nagoya Protocol into legal
17 force. The time is about three months to formalize
18 legal benefit sharing arrangements to use the influenza
19 strain from each source participating country. The
20 United States is not a signatory to Nagoya. Now, even

1 though the United States is not a signatory, countries
2 could choose not to share with the United States
3 companies, or there could be delays or restrictions on
4 vaccine strain availability.

5 So I have a few recent examples shown in this
6 slide. So of note, four candidate vaccine viruses
7 recently had Nagoya Protocol authorization. Note that
8 A/Switzerland/8060/2017 was a selected H3N2 strain for
9 the 2018-2019 vaccine formulation. Four candidate
10 vaccine viruses had tacit authorization, and three CVVs
11 required material transfer agreements from the National
12 Influenza Center to the WHO collaborating center and
13 were not available in time and ultimately not used.
14 None of these countries have asked for benefits, but,
15 if so, then each manufacturer would have to negotiate
16 the benefit sharing. And it could become too
17 challenging to use the virus.

18 So as industry, we are concerned about the
19 increase in the number of Nagoya Protocol impacted
20 viruses, the increase in the time to provide

1 authorization, legislation emerging restricting the use
2 of genetic resources that's independent of the Nagoya
3 Protocol, and lastly, some countries are considering
4 amending their legislation to include genetic sequence
5 data and digital sequence information. So all of these
6 risk supply delays are due to the required negotiation
7 and/or notification costs by manufactures to address
8 and resolve. So to summarize today, influenza is a
9 serious disease with dangerous impact to chronic
10 conditions, as well all know here. I share on this
11 slide the CDC's disease burden pyramid, showing up to
12 45 million cases of illness a year, up to 810,000
13 hospitalizations, and up to 61,000 deaths annually in
14 the U.S. since 2010. Impact on chronic conditions may
15 go beyond even what's shown in this triangle.

16 So ensuring a robust vaccine supply for the
17 nation is akin to running a relay race with multiple
18 batons and multiple hurdles. There's a sustained
19 increase in the number of doses supplied in the same
20 time window. The Nagoya Protocol is impacting the

1 ability to select the best vaccine strain and strain
2 sequence availability.

3 We also share that it's important to maintain
4 confidence in influenza vaccines. We support the
5 people follow vaccine recommendations, and we're
6 willing to produce as many doses as are needed. We are
7 all in this race together to ensure adequate vaccine
8 supply and ultimately public health. Thank you.

9

10 **QUESTIONS AND ANSWERS**

11

12 **DR. EL SAHLY:** Thank you, Dr. Post. Quick
13 question regarding the Nagoya Protocol, is the impact
14 from the fact that certain countries are not
15 signatories to this protocol, or is it from the fact
16 that the U.S. is not? Which or both or --

17 **DR. POST:** No. I think the impact is that we
18 may need to use a strain from a country that's a
19 signatory. So even though the United States is not a
20 signatory, we still have impact at the Nagoya Protocol

1 because we would have to, you know, address whatever
2 may be needed from that country to obtain that strain.
3 If we were a signatory, I think the impact would still
4 be the same.

5 **DR. EL SAHLY:** Oh, so it would not matter
6 who's signatory or who not?

7 **DR. POST:** Yeah, yeah.

8 **DR. EL SAHLY:** Okay. Yes, please.

9 **DR. KURILLA:** So the way the Nagoya Protocol
10 is written, is it the sequence itself as opposed to the
11 virus itself?

12 **DR. POST:** I think -- and I'm not a legal
13 expert so there may be more -- there may be others in
14 the room that could better speak to this, but I think
15 it's -- today it's the virus availability. It's a
16 little unclear about the genetic sequence. That may
17 also be impacted, but we're not quite clear today on
18 that.

19 **DR. KURILLA:** But if the sequence were
20 published, you could theoretically make the virus on

1 your own, infect an animal, and then naturally isolate
2 the virus --

3 **DR. POST:** I think that's --

4 **DR. KURILLA:** -- and that's still -- so is it
5 just whoever says they had it first?

6 **DR. POST:** No, I think that's where it's a
7 little unclear that what we would have to do to use
8 that --

9 **DR. KURILLA:** So currently -- and I don't know
10 if it's the case -- if China were, in fact, a
11 signatory, they could not -- they could basically stop
12 anyone else from making a coronavirus vaccine without a
13 lot of negotiation is what they -- I mean --

14 **DR. POST:** Well, depending on what -- yeah,
15 what they would require. That may be a potential. I'm
16 not an expert on the protocol. I think the WHO has a
17 lot of experience with it.

18 **DR. EL SAHLY:** Dr. Spearman.

19 **DR. SPEARMAN:** Thank you for that talk. That
20 was actually really interesting. But one thing that

1 came out that I didn't realize was the point about
2 industry starting manufacturing before strain
3 selection.

4 **DR. POST:** Right.

5 **DR. SPEARMAN:** And how does that really work?
6 Does everyone do that? Are you taking multiple
7 potential candidate strains and getting them up to some
8 level of production? How do you really do that?

9 **DR. POST:** I'll back up to that slide. Well,
10 we use our own -- we use all of the available
11 surveillance data that we can get to make our own
12 decisions of what strain is least likely to change.
13 And then, you know, we'll start making that. So that's
14 why it's -- there is a big impact. You can see, if
15 we've made the strain that we think won't change and
16 then it changes, we have to throw that out and start
17 over. But really because the timelines are so
18 compressed, we have to get a head start.

19 **DR. EL SAHLY:** For example, this year all
20 three changed, so I don't know if that's going to be a

1 problem.

2 DR. POST: Well, the three, four -- there was
3 a fourth that didn't change.

4 DR. EL SAHLY: For the Phuket did not. Yeah,
5 you're right.

6 DR. POST: Yeah, okay.

7 DR. EL SAHLY: Okay. Well, thank you, Dr.
8 Post.

9 DR. POST: Thank you.

10 DR. EL SAHLY: Lunch break is next. We are
11 scheduled to be here again at 12:50, please, 12:50.

12 MS. HAYES: For those who haven't already paid
13 for your lunch, you can visit the kiosk right out front
14 and pay for your lunch. Your menu selected items
15 should be in your folders, if you've done that already.

16 [BREAK]

17

18 OPEN PUBLIC HEARING

19

20 DR. EL SAHLY: It's 12:51 and we will be now

1 doing the open public hearing session of our meeting.
2 No one registered for the open public hearing session
3 online or on the phone, but I want to invite the
4 members of the audience in the room here if anyone
5 wants to have a statement during the session. Raise
6 your hand if you do have a comment to share.

7 Okay. I guess neither in person or online we
8 have statements during the open public hearing, so we
9 will now move to the issue of the discussion among the
10 committee members of the strain selection for the
11 northern hemisphere, 2020-2021.

12

13 **COMMITTEE DISCUSSION, RECOMMENDATIONS, AND VOTE**

14

15 **DR. EL SAHLY:** This is where I invite
16 questions or comments, but I guess probably will ask
17 many of them during the presentations. Dr. Bennink is
18 thinking of a question.

19 **DR. BENNINK:** Yeah. I'll make a comment in a
20 sense in this -- and I've talked a little bit with

1 David earlier that in a sense, I would like to see, you
2 know, when we are sort of doing this, a little bit more
3 data that actually you see where the candidate strains
4 are actually used in the data and you can see
5 comparisons or something like that with the serum and
6 other things. And with the -- it was in the H3 and 2.
7 There was some things there and stuff but, you know, in
8 some of the other -- it's a little bit more if we could
9 in the future, see more of that. It gives you a better
10 idea of what the responses are and what the antibody
11 titers are that we're seeing in terms of what the
12 vaccines might generate or something along that line
13 and how cross reactive they are about the strains that
14 are circulating.

15 **DR. EL SAHLY:** Yes, it's certainly a wealth of
16 data, and it would help to sort of zero in on some of
17 the items or highlight them in a slightly different
18 way. But yeah, definitely. Yes.

19 **DR. WEIR:** Can I just follow up that?
20 Specifically, are you talking about you'd like to see

1 more of like the human serology data or what exactly?

2 **DR. BENNINK:** It doesn't -- all of it -- human
3 serology is good, I mean, in that sense, but even in
4 this particular case, in the H1N1, if you look at the
5 tables, particularly the ones coming out of the WHO but
6 also in some of the tables that David presented -- and
7 maybe it's, you know, the labs haven't had it long
8 enough, or they haven't had this. They haven't had
9 time to generate antisera in the ferrets or in sheep or
10 whatever so that -- you know, you're not really seeing
11 or making comparisons of how much better it may be in
12 terms of, you know, HI titers or other things along
13 that line.

14 So I think what I saw was an absence of some
15 of that data in terms of H1N1. Now, the H3N2, that
16 wasn't as true and that wasn't the you know, the
17 things. I just thought from that perspective we could
18 have maybe seen something. I mean, we're being asked
19 to select, you know, candidate strains which we don't
20 see any data specifically for that strain in some

1 cases.

2 **DR. WENTWORTH:** Can I make a comment and ask a
3 question at the same time? So guess there's plenty of
4 data, right. And so I could spend the afternoon with
5 you showing you data. So I think the question becomes
6 should we remove some of the kind of surveillance like
7 data that goes over where viruses are circulated and
8 what's circulated and spend more time on, you know, HI
9 tables, which I find that most people don't like? And
10 so, even in the H1N1s, we had antigen and cartography
11 there illustrating the vaccine strains that are named
12 and their position. And then the H1s in particular,
13 the ferrets don't differentiate these viruses that the
14 whole reason we showed the human table was that humans
15 do differentiate these viruses.

16 So in H1, it's particularly challenging. I'm
17 happy to try to show more data, and I think Jack has a
18 great point. And I'm happy to do it, but I think it
19 would be at the expense of something because I, you
20 know, I eliminated about 15 slides to get it down to

1 the 60 minutes. So I -- just tell us what you want.

2 **DR. EL SAHLY:** Dr. Spearman.

3 **DR. SPEARMAN:** So I have a suggestion. I
4 think the data are great. It's great to see all the
5 specific data. What I would like is a presentation,
6 sort of a wrap up at the end.

7 Here is why we chose this strain. If you
8 remember, here's the antigen and cartography, here's
9 where -- you know, this is why we chose this subclade.
10 And there was a debate about this maybe at WHO, but
11 here's why we chose that strain. And then here's why
12 we chose the next strain. So have that as the summary,
13 very directed, why these are the best strains to go
14 forward with.

15 **DR. EL SAHLY:** Dr. Chatterjee.

16 **DR. CHATTERJEE:** Just a follow-up to Paul's
17 comment and that is that perhaps some of this
18 information could be provided as background information
19 to us before coming to the meeting so that we could
20 review the materials ahead of time and more time could

1 be spend on discussion about why the strains were
2 chosen.

3 **DR. EL SAHLY:** Dr. Kurilla.

4 **DR. KURILLA:** Yeah. I think one additional
5 piece of data that would be useful is there's really no
6 continuity over time with previous attempts to match
7 the strain and the thinking that went into why we chose
8 this strain. How successful were we at doing that, and
9 when did it make a difference or when it didn't make a
10 difference? We get this sort of interim vaccine
11 efficacy currently, but how good were these predictions
12 that we're making now?

13 How good were they two, three, four years ago
14 when we were working with the very same equivalent set
15 of data that sometimes we get it right, sometimes we
16 get it wrong? But we don't get a sense of what are the
17 critical parameters in terms of why we went with this
18 clade over that clade when it worked and when it didn't
19 work. That would be nice to see.

20 **DR. EL SAHLY:** Yes, Dr. Bennink.

1 **DR. BENNINK:** Yeah. Let me ask a different
2 question that isn't so much on the vaccine sense. But
3 in the reports, the WHO and CDC and, you know, it looks
4 at the neuraminidase inhibitors and the drugs and
5 Xofluza as well. Is there any -- I'm just curious, is
6 there any idea at least emerging in terms of what of
7 these drugs are better? Is the Xofluza better than the
8 neuraminidase inhibitors or not even where they haven't
9 moved at all? Is there anything that's any good? I
10 mean --

11 And I'll ask a second question. Is
12 there -- is the FDA looking at any -- are there any
13 companies that are about to put out testing kits for
14 flu that, you know, people can buy off the counter or
15 something like this so that they would know -- they
16 could do a swab or something -- they could know whether
17 I need to get to the doctor because I've got to take
18 these drugs within the first day or something like
19 this, first day or two? And are there things like that
20 being -- coming to the FDA?

1 **DR. GRUBER:** You know, we simply don't know
2 because these test kits would not be regulated in the
3 Center for Biologics, so we could actually, however,
4 reach out to some of our colleagues in -- I think it
5 maybe Center for Devices or CDER to see what is going
6 on there and if something like this is developed like
7 an at-home kit, right? Testing kit. So we're not
8 aware because we don't really have these products under
9 our purview.

10 **DR. EL SAHLY:** Dr. Gans.

11 **DR. GANS:** Thank you. If we're going over
12 things that I think would be helpful to committee, I
13 agree with like the way that we currently select our
14 vaccines all the information that was asked for I think
15 would be very helpful to make decisions. But I guess
16 the most striking thing to me is that we continue to
17 make a lot of our basis on serologic studies on the
18 neutralization of antibodies when we know actually that
19 there's other, better correlates of immunity, although
20 we would probably have to change our vaccine a bit.

1 But anyway, I wondered if there's any efforts
2 made to try and get something that's more cross typic
3 that can actually go across all of these different
4 things that we could actually make a better a
5 prediction about where we're going with some of that
6 instead of relying on antibodies that cause the
7 antigenic shift. And then we're stuck with things that
8 aren't as effective.

9 **DR. EL SAHLY:** I guess, yes, Dr. Weir.

10 **DR. WEIR:** There's -- I'm not sure I have an
11 answer for you. There's clearly a lot of work and a
12 lot of different areas to develop better vaccines.
13 That's a big push throughout the government, throughout
14 the industry, and there's a lot of money being put into
15 it now. And so I suspect, at least I hope, that over
16 the years that that will lead to better cross-reactive
17 protective vaccines as well as, you know, the so-called
18 universal vaccines.

19 As far as the correlates though, I'm not sure
20 I agree with you that they're better correlates.

1 Unfortunately, where we are now with the existing
2 vaccines that are mostly inactivated vaccines,
3 antibodies are the best correlate that we have. And so
4 that will probably be something different when a new
5 generation of vaccines hopefully arrive that work in
6 different ways. They probably will have different
7 correlates and then, yes, I suspect the prediction will
8 get harder, not easier. But anyway, I think we have a
9 ways to go still.

10 **DR. JANES:** Dr. El Sahly, this is Holly on the
11 phone. May I ask a question?

12 **DR. EL SAHLY:** Absolutely.

13 **DR. JANES:** Thank you. So following up on the
14 questions around the data that are presented to the
15 committee, I think I saw for the first time, if I
16 remember correctly, today from the DOD indications in
17 the phylogenetic trees as to, you know, some additional
18 kind of characterization of the viruses and the trees,
19 specifically with regard to whether or not they had
20 required hospitalization of the individuals and also

1 whether or not there was knowledge about individuals
2 having previously been vaccinated. So those intrigued
3 me. And I thought, you know, if indeed those are the
4 first time that those types of metadata are being
5 presented to the committee, it would be helpful to
6 understand how we ought to interpret those data, you
7 know, and in particular looking at the hospitalization
8 data.

9 Do they suggest that there's different
10 morbidity associated with the different virus, you
11 know, subclades and groups of viruses? And, if so, you
12 know, was that part of the determination around the
13 selection of the strains? And could that be made a
14 more systematic characterization for committee meetings
15 going forward?

16 **DR. EL SAHLY:** Yeah, Dr. Wentworth.

17 **DR. WENTWORTH:** Well, you know, we also
18 sequence, you know, from the VE studies at the CDC. We
19 don't put that into the -- you know, the trees I'm
20 showing are very high level. We have trees where we

1 generate ourselves to look at that. If we don't see a
2 pattern, which is typically the case, then we wouldn't
3 bother showing. I guess, if we saw a pattern where a
4 certain subclade was escaping vaccine-induced immunity
5 more frequently, then we could include it.

6 So I think that I -- there's a lot of metadata
7 to try to incorporate into the tree or trees. And so
8 we can -- if that's very important to the committee, we
9 can definitely do it. But generally what we find is
10 it's peppered throughout the tree, and it tends to be
11 the dominant group or subgroup of viruses that are
12 circulating as to what breaks through the vaccine.
13 It's the same reason they're popular. You know, it's
14 hard to differentiate the fact that they're the
15 dominant strain causing the epidemic, and they happen
16 to be the thing that's also infecting the vaccinated
17 individuals.

18 **DR. EL SAHLY:** Okay. Any comments from the
19 room --

20 **DR. JANES:** Thank you.

1 **DR. EL SAHLY:** -- or from the attendees on the
2 phone? Okay. So next will be the questions on which
3 we are going to vote.

4 **MS. HAYES:** Just as a reminder, for
5 individuals participating on the phone, you will be
6 emailing me your responses, and then we will have those
7 included in the tally. Thank you.

8 **DR. EL SAHLY:** So for the influenza A H1N1
9 component of the 2020-2021 influenza virus vaccines in
10 the U.S., does the committee recommend an A/Guangdong-
11 Maonan/SWL1536/2019 (H1N1) pandemic 09-like virus for
12 egg-based vaccine, an A/Hawaii/70/2019 (H1N1) pandemic-
13 09-like virus for cell or recombinant-based vaccine?
14 The options are yes, no, and abstain. And Kathleen
15 will us know when to start.

16 **MS. HAYES:** You can go ahead. Mr. Toubman and
17 Dr. Janes, if you could email your responses now to
18 Kathleen.hayes@fda.

19 **DR. EL SAHLY:** Do you have all the votes?

20 **MS. HAYES:** We'll just give it a couple more

1 minutes since I haven't received the votes via email as
2 of yet.

3 **DR. EL SAHLY:** Holly and Shelly, would you
4 please email Kathleen your votes?

5 (Pause)

6 **DR. EL SAHLY:** Shelly and Holly, are you on
7 the phone?

8 **MR. TOUBMAN:** Yes, this is Sheldon. I did
9 email my vote. Did you not get it by email?

10 **DR. EL SAHLY:** Kathleen did not get your
11 votes.

12 **MR. TOUBMAN:** Okay. I'll make this really
13 easy. The vote is yes.

14 **DR. JANES:** I voted yes as well and have
15 emailed, but I'll do so again.

16 **DR. EL SAHLY:** Would that be sufficient or --

17 **MS. HAYES:** Thank you. I'm sure that maybe
18 due to the firewall, they just haven't come through
19 yet, but we'll note those votes for record. So once
20 the votes are tallied, they'll come up on the display

1 and we can read them.

2 (Pause)

3 Okay. So I believe we have everyone's votes
4 received. We'll make a note that Dr. Annunziato did
5 not vote as an IR, and Dr. Bennink's vote was used on
6 her microphone.

7 It looks like we have 15 yeses. Dr. Bennink,
8 yes. Colonel Wiesen yes. Dr. El Sahly, yes. Dr.
9 Beckham, yes. Dr. Chatterjee, yes. Dr. Gans, yes.
10 Dr. Janes, yes. Dr. Kurilla, yes. Dr. Levine, yes.
11 Dr. Meissner, yes. Dr. Offit, yes. Dr. Shane, yes.
12 Dr. Spearman, yes. Dr. Swamy, yes. And Mr. Toubman,
13 yes. We can move forward to question number 2.

14 **DR. EL SAHLY:** Question number 2, for the
15 influenza A (H3N2) component of 2020-2021 influenza
16 virus vaccine in the U.S., does the committee recommend
17 an A/Hong Kong/2671/2019 (H3N2)-like virus for egg-
18 based vaccines and A/Hong Kong/45/2019 (H3N2)-like for
19 cell or recombinant-based vaccines? Yes, no, abstain
20 on the microphone.

1 **MS. HAYES:** And those on the phone please feel
2 free to email if I get them in time. Thank you, Dr.
3 Janes, I received yours.

4 (Pause)

5 **MS. HAYES:** I've received answers for those on
6 the phone, so I think we're ready to move forward, if
7 you want to display the results. I'm sorry. Mr.
8 Toubman voted yes and Dr. Janes, yes.

9 Again for the record, Dr. Annunziato did not
10 vote on her microphone. Dr. Bennink's vote was entered
11 on hers. We have all yeses once again for this
12 question.

13 Dr. Bennink, yes. Colonel Wiesen, yes. Dr.
14 El Sahly, yes. Dr. Beckham, yes. Dr. Chatterjee, yes.
15 Dr. Gans, yes. Dr. Janes, yes. Dr. Kurilla, yes. Dr.
16 Levine, yes. Dr. Meissner, yes. Dr. Offit, yes. Dr.
17 Shane, yes. Dr. Spearman, yes. Dr. Swamy, yes. And
18 Mr. Toubman, yes. Next question, please.

19 **DR. EL SAHLY:** For the influenza B component
20 of the 2020-2021 trivalent influenza virus vaccine in

1 the U.S., does the committee recommend inclusion of a
2 B/Washington/02/2019-like virus B/Victoria lineage?

3 **MS. HAYES:** I received the results for Dr.
4 Janes. Mr. Toubman, I haven't received your vote yet.
5 Did you want to just say it over the phone and I'll
6 keep your email for record? Oh, I just received it.
7 Thank you. So we should have all responses at this
8 point in time.

9 Okay. This question has passed. It looks
10 like we have 15 yes votes. Dr. Bennink, yes. Colonel
11 Wiesen, yes. Dr. El Sahly, yes. Dr. Beckham, yes.
12 Dr. Chatterjee, yes. Dr. Gans, yes. Dr. Janes, yes.
13 Dr. Kurilla, yes. Dr. Levine, yes. Dr. Meissner, yes.
14 Dr. Offit, yes. Dr. Shane, yes. Dr. Spearman, yes.
15 Dr. Swamy, yes. Mr. Toubman, yes.

16 **MR. TOUBMAN:** Yes. Hi, I voted yes.

17 **MS. HAYES:** Yes, thank you. Next question.

18 **DR. EL SAHLY:** For quadrivalent 2020-2021
19 influenza vaccines in the U.S., does the committee
20 recommend inclusion of a B/Phuket/3037/2013-like virus

1 B/Yamagata lineage as the second influenza B strain in
2 the vaccine?

3 **MS. HAYES:** We have the response for Dr.
4 Janes. Mr. Toubman, I'm sure your email is still
5 coming through but feel free to vocalize your response,
6 and I'll note your emails for record.

7 **MR. TOUBMAN:** Yes, the vote is yes. Thank
8 you.

9 **MS. HAYES:** Thank you. We should have all
10 responses at this point in time. Again, we have 15
11 yeses. Dr. Bennink, yes. Colonel Wiesen, yes. Dr. El
12 Sahly, yes. Dr. Beckham, yes. Dr. Chatterjee, yes.
13 Dr. Gans, yes. Dr. Janes, yes. Dr. Kurilla, yes. Dr.
14 Levine, yes. Dr. Meissner, yes. Dr. Offit, yes. Dr.
15 Shane, yes. Dr. Spearman, yes. Dr. Swamy, yes. And
16 Mr. Toubman, yes. This should conclude the voting for
17 topic one.

18 **DR. EL SAHLY:** All right. Mission one
19 accomplished.

20 **MR. TOUBMAN:** Can I ask a question? This is

1 Sheldon Toubman. Can I ask one question?

2 **DR. EL SAHLY:** Yes, of course.

3 **MR. TOUBMAN:** So I've been on this committee
4 for three-and-a-half years, and, of course, I'm the
5 person who really doesn't know anything. I barely
6 understand what's being talked about. I try to follow
7 it.

8 But it does seem that -- my question is going
9 to be about the selection of a third A instead of two
10 Bs, the question raised earlier. It does seem that
11 whatever the WHO suggests is always adopted
12 unanimously. And I don't think there's anything wrong
13 with that because, obviously, they seem to be
14 preeminent world public health organization with all
15 the appropriate expertise. It does seem, however, that
16 that is the result. Whatever WHO says becomes what's
17 adopted by the FDA.

18 And if that's going to continue to be the
19 case, maybe this question isn't really relevant. But
20 if it might not always be the case, I do have this

1 question about why there wouldn't be consideration of
2 having, in a quadrivalent, having three As if the
3 conclusion after looking at the evidence is that
4 actually that would probably be the most protective for
5 the coming season. And yet, I heard the -- I don't
6 know who asked the question because I'm on the phone,
7 so I couldn't really hear or see very well.

8 But somebody asked the question is could we do
9 that. And I couldn't understand the answer very well,
10 but it seemed to be that the answer was that something
11 about licensing would be difficult for the
12 manufacturers to do that. And I guess my question is,
13 if that is an obstacle, is that something that should
14 be looked at so that, in the future there might be an
15 option to -- for the committee to say, you know,
16 actually, the best thing this particular year would
17 actually to have three under A and not for the
18 quadrivalent as opposed to having a second B? Is that
19 something worth looking at, whether it's something to
20 be done there to make that a possibility?

1 **DR. EL SAHLY:** I can begin the answer. I
2 think, well -- Dr. Weir.

3 **DR. WEIR:** I can elaborate a little bit on
4 what I said earlier. Any sort of changes in
5 formulation do have to go through the licensing
6 procedure, and a company would have to come to the
7 agency with their proposal and have data to support
8 that. Off the top of my head, I could say you'd
9 probably be looking for things like would the inclusion
10 of another A impact the response to the ones already in
11 there. Those would just be sort of the basic things
12 one would do.

13 But the simple answer is that it would change
14 the license. I don't know how difficult it would be.
15 It would clearly be something that I doubt one company
16 would take on their own because, again, they would be
17 doing that without any WHO recommendation. Their
18 license would kind of be in a strange position. It
19 could be a public health question that is bigger than
20 what this committee addresses.

1 I mean, these may be the type of studies that,
2 you know, someone else, NIH for example, could
3 undertake, you know. Would those type of vaccine
4 formulations be of benefit, and, if that sort of data
5 were generated, maybe it would spark interest in
6 changing that sort of recommendation. And then the
7 companies could follow. So anyway. Marion?

8 **DR. GRUBER:** I just wanted to amplify a little
9 bit. I mean, Jerry's absolutely right. What we would
10 need is what we usually refer to as supplemental
11 biologic license application, much like we have done
12 when we licensed quadrivalent influenza vaccines. So
13 you know, the manufacturer would do the clinical
14 studies, probably immunogenicity studies, to really
15 look at, you know, a potential interference of the
16 different strains.

17 And so I think, you know, from a regulatory
18 perspective, it's doable, but I think it raises a lot
19 of other very complex and difficult questions that we
20 need to answer. And I think one of the questions is --

1 and I think that maybe toward the vaccine manufacturers
2 factors. I mean, we've heard, you know, the strenuous
3 conditions and the timelines out of which these
4 vaccines are made now. So let's say adding now a
5 third, let's say, H1N1 or, you know -- what would this
6 really mean in terms of manufacturing, manufacturing
7 capacity, the logistics of, you know, getting the
8 candidate virus, et cetera, et cetera?

9 I think it adds another layer of complexity
10 and poses questions regarding timely availability, not
11 only about the vaccines at the end, but also necessary
12 reagents that need to be made and available to really
13 measure the strengths and potency of these products.
14 So I think it's an important but a very complex
15 discussion. And I don't think there's just one easy
16 answer for that.

17 **DR. EL SAHLY:** Okay.

18 **MR. TOUBMAN:** Thank you for the answer. I
19 guess the question is whether -- is this the, no pun
20 intended, chicken or egg in the sense that does WHO

1 always, for the quadrivalent, always recommend two Bs
2 because of this problem that you're identifying, this
3 significant regulatory obstacle? And so, in fact,
4 that's why they would never go there. Is that what's
5 going on? Because if it's going on or partly going on,
6 then it does seem it's a conversation worth having.

7 **DR. EL SAHLY:** Clinical research data would be
8 needed, but it may be where the field may have to go at
9 one point. Dr. Meissner?

10 **DR. MEISSNER:** Well, I was just going to make
11 the comment for Dr. Weir and Dr. Gruber to respond. I
12 mean, one way around it would be to make a second
13 vaccine. So that would be the traditional four-valent
14 and then, as we had in 2009 for the pandemic strain,
15 another vaccine. And so it would mean people getting
16 immunized twice or with two different vaccines, but
17 that might be an option to address this interesting
18 issue.

19 **DR. EL SAHLY:** We have Dr. Chatterjee and then
20 Dr. Bennink.

1 **DR. CHATTERJEE:** I have a question for our FDA
2 colleagues as well. With regard to concomitant
3 administration of other vaccines, primarily for
4 children because they're receiving a lot of other
5 vaccines at the same time, would those studies be
6 required as well?

7 **DR. GRUBER:** It's always good to have this
8 data. It would not be a requirement to licensure to
9 have these data on concomitant vaccine administration
10 at the time that, you know, presumably we would license
11 a new formulation. But usually manufactures do really
12 acquire those data, sometimes, you know, post-
13 licensure.

14 **DR. EL SAHLY:** Dr. Bennink.

15 **DR. BENNINK:** Yeah. I think it's obvious, but
16 the real solution, if possible, is a universal vaccine.
17 And I think that's where all the push and drive is.
18 And when that comes about, then the companies will be,
19 you know, really driving to license that.

20 **[END OF TOPIC I OPEN SESSION]**