

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ONCOLOGIC DRUGS ADVISORY COMMITTEE (ODAC)

Wednesday, December 18, 2019
8:00 a.m. to 11:56 a.m.

FDA White Oak Campus
White Oak Conference Center
Building 31, The Great Room
10903 New Hampshire Avenue
Silver Spring, Maryland

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Lauren Tesh Hotaki, PharmD, BCPS, BCIDP**

4 Division of Advisory Committee and Consultant

5 Management

6 Office of Executive Programs, CDER, FDA

7

8 **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)**

9 **Massimo Cristofanilli, MD, FACP**

10 Associate Director of Translational Research and

11 Precision Medicine

12 Robert H. Lurie Comprehensive Cancer Center

13 Chicago, Illinois

14

15 **Susan Halabi, PhD**

16 Professor of Biostatistics and Bioinformatics

17 Duke University Medical Center

18 Durham, North Carolina

19

20

21

22

1 **Christian S. Hinrichs, MD**

2 Investigator & Lasker Clinical Research Scholar
3 Experimental Transplantation and Immunology Branch
4 National Cancer Institute
5 National Institutes of Health (NIH)
6 Bethesda, Maryland

7
8 **Philip Hoffman, MD**

9 *(Chairperson)*
10 Professor of Medicine
11 The University of Chicago
12 Section of Hematology/Oncology
13 Department of Medicine
14 Chicago, Illinois

15
16 **Heidi D. Klepin, MD, MS**

17 Associate Professor of Internal Medicine
18 Section of Hematology and Oncology
19 Wake Forest University Health Sciences
20 Winston Salem, North Carolina

21
22

1 **Anthony D. Sung, MD**

2 Assistant Professor of Medicine

3 Duke University School of Medicine

4 Duke Adult Blood and Marrow Transplant Clinic

5 Durham, North Carolina

6

7 **Thomas S. Uldrick, MD, MS**

8 Deputy Head, Global Oncology

9 Associate Member, Vaccine and Infectious

10 Disease Division

11 Associate Member, Clinical Research Division

12 Fred Hutchinson Cancer Research Center

13 Seattle, Washington

14

15

16

17

18

19

20

21

22

1 **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS**

2 **(Non-Voting)**

3 **Jonathan D. Cheng, MD**

4 *(Industry Representative)*

5 Vice President and Oncology Therapeutic Area

6 Head Merck Research Laboratories

7 Oncology

8 Clinical Research

9 North Wales, Pennsylvania

10

11 **TEMPORARY MEMBERS (Voting)**

12 **Randy W. Hawkins, MD**

13 *(Acting Consumer Representative)*

14 Department of Internal Medicine

15 Internal Medicine/Pulmonary & Critical Care

16 Charles R. Drew University of Medicine and

17 Science

18 Los Angeles, California

19

20

21

22

1 **Christian F. Meyer, MD, MS, PhD**

2 Clinical Associate and Assistant Professor of
3 Adult Sarcomas, Medical Oncology
4 Johns Hopkins Hospital
5 Baltimore, Maryland

6

7 **Richard F. Riedel, MD**

8 Associate Professor of Medicine (with Tenure)
9 Associate Director, Duke Sarcoma Program
10 Duke Cancer Institute
11 Duke University Health System
12 Durham, North Carolina

13

14 **Kimberly A. Webb, MA**

15 *(Patient Representative)*
16 Huntington Beach, California

17

18 **FDA PARTICIPANTS (Non-Voting)**

19 **Richard Pazdur, MD**

20 Director, Oncology Center of Excellence (OCE), FDA
21 Acting Director, Office of Oncologic Disease (OOD)
22 Office of New Drugs (OND), CDER, FDA

1 **Marc Theoret, MD**

2 Acting Deputy Director

3 OOD, OND, CDER, FDA

4 Acting Associate Director for Immunotherapeutics

5 OCE, FDA

6

7 **Steven Lemery, MD**

8 Acting Director

9 Division of Oncology 3 (DO3)

10 OOD, OND, CDER, FDA

11

12 **Ashley Ward, MD**

13 Clinical Team Leader

14 Melanoma/Sarcoma Team

15 DO3, OOD, OND, CDER, FDA

16

17 **Leslie Doros, MD**

18 Clinical Reviewer

19 Melanoma/Sarcoma Team

20 DO3, OOD, OND, CDER, FDA

21

22

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Philip Hoffman, MD	10
5	Conflict of Interest Statement	
6	Lauren Tesh Hotaki, PharmD, BCPS, BCIDP	14
7	FDA Opening Remarks	
8	Ashley Ward, MD	17
9	Applicant Presentations - Epizyme	
10	Introduction	
11	Shefali Agarwal, MD	26
12	Unmet Need	
13	Shreyaskumar Patel, MD	32
14	Tazemetostat Efficacy in Patients with	
15	Epithelioid Sarcoma	
16	Shefali Agarwal, MD	37
17	Tazemetostat Safety	
18	George Demetri, MD	49
19	Clinical Perspective	
20	Gary Schwartz, MD	56
21	Summary	
22	Shefali Agarwal, MD	63

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	FDA Presentation	
4	Efficacy & Safety Analysis and Issues	
5	Leslie Doros, MD	65
6	Clarifying Questions to Presenters	84
7	Open Public Hearing	136
8	Clarifying Questions to Presenters (con't)	173
9	Questions to the Committee and Discussion	179
10	Adjournment	205
11		
12		
13		
14		
15		
16		
17		
18		
19		
20		
21		
22		

1 P R O C E E D I N G S

2 (8:00 a.m.)

3 **Call to Order**

4 **Introduction of Committee**

5 DR. HOFFMAN: Good morning. I'd first like
6 to remind everyone to please silence your cell
7 phones, smartphones, and any other devices if you
8 have not already done so. I would also like to
9 identify the FDA press contact, Brittney
10 Manchester. If you're present -- yes, you
11 are -- please stand. Thank you.

12 My name is Phillip Hoffman. I'm the
13 chairperson for this meeting. I'll now call the
14 morning session of today's meeting of the Oncologic
15 Drugs Advisory Committee to order. We'll start by
16 going around the table and introduce ourselves.
17 We'll start with the FDA to my left and go around
18 the table.

19 DR. PAZDUR: Richard Pazdur, director,
20 Oncology Center of Excellence

21 DR. THEORET: Good morning. Mark Theoret,
22 deputy office director, Office of Oncologic

1 Diseases and associate director of
2 immunotherapeutics in the Oncology Center of
3 Excellence, acting.

4 DR. LEMERY: Steven Lemery, acting director,
5 Division of Oncology III.

6 DR. WARD: Ashley Ward, clinical team
7 leader.

8 DR. DOROS: Leslie Doros, clinical reviewer.

9 DR. KLEPIN: Heidi Klepin, geriatric
10 oncologist, Wake Forest School of Medicine.

11 DR. HINRICHS: Christian Hinrichs, principal
12 investigator, NCI.

13 DR. HALABI: Susan Halabi, biostatistician,
14 Duke University.

15 DR. HOTAKI: Lauren Hotaki, designated
16 federal officer.

17 DR. HOFFMAN: Philip Hoffman, medical
18 oncologist, University of Chicago.

19 DR. CRISTOFANILLI: Massimo Cristofanilli,
20 breast medical oncology, Northwestern University,
21 Chicago.

22 DR. ULDRICK: Thomas Uldrick, medical

1 oncology, Fred Hutchinson Cancer Research Center.

2 DR. SUNG: Anthony Sung, Duke University,
3 hematology-oncology.

4 MS. WEBB: Kimberly Webb. I'm the patient
5 caregiver, a representative. My son was diagnosed
6 with epithelial sarcoma. I'm also the admin for
7 our epithelioid sarcoma Facebook pages. We have
8 three of them, and we represent -- there's over a
9 thousand members, so I'm trying to represent them
10 as well.

11 DR. MEYER: Christian Meyer, medical
12 oncologist, adult sarcomas, Johns Hopkins.

13 DR. RIEDEL: Richard Riedel, sarcoma medical
14 oncologist from Duke University Medical Center.

15 DR. CHENG: Good morning. Jonathan Cheng,
16 medical oncologist, industry rep. I'm with Merck.

17 DR. HOFFMAN: Dr. Tap from Cornell is going
18 to be joining by phone, but we'll mention when he
19 joins.

20 For topics such as those being discussed at
21 today's meeting, there are often a variety of
22 opinions, some of which are quite strongly held.

1 Our goal is that today's meeting will be a fair and
2 open forum for discussion of these issues and that
3 individuals can express their views without
4 interruption. Thus, as a gentle reminder,
5 individuals will be allowed to speak into the
6 record, only when recognized by the chairperson.
7 We look forward to a productive meeting.

8 In the spirit of the Federal Advisory
9 Committee Act and the Government in the Sunshine
10 Act, we ask that the advisory committee members
11 take care that their conversations about the topic
12 at hand take place in the open forum of the
13 meeting.

14 We are aware that members of the media are
15 anxious to speak with the FDA about these
16 proceedings, however, FDA will refrain from
17 discussing the details of this meeting with the
18 media until its conclusion. Also, the committee is
19 reminded to please refrain from discussing the
20 meeting topic during breaks or lunch. Thank you.

21 Now, I'll pass the microphone to Dr. Lauren
22 Hotaki, who will read the Conflict of Interest

1 Statement.

2 **Conflict of Interest Statement**

3 DR. HOTAKI: The Food and Drug
4 Administration is convening today's meeting of the
5 Oncologic Drugs Advisory Committee under the
6 Federal Advisory Committee Act of 1972. With the
7 exception of the industry representative, all
8 members and temporary voting members of the
9 committee are special government employees or
10 regular federal employees from other agencies and
11 are subject to federal conflict of interest laws
12 and regulations.

13 The following information on the status of
14 this committee's compliance with federal ethics and
15 conflict of interest laws, covered by but not
16 limited to those found at 18 U.S.C. Section 208, is
17 being provided to participants in today's meeting
18 and to the public. FDA has determined that members
19 and temporary voting members of this committee are
20 in compliance with federal ethics and conflict of
21 interest laws.

22 Under 18 U.S.C. Section 208, Congress has

1 authorized FDA to grant waivers to special
2 government employees and regular federal employees
3 who have potential financial conflicts when it is
4 determined that the agency's need for a special
5 government employee's services outweighs his or her
6 potential financial conflict of interest, or when
7 the interest of a regular federal employee is not
8 so substantial as to be deemed likely to affect the
9 integrity of the services which the government may
10 expect from the employee.

11 Related to the discussion of today's
12 meeting, members and temporary voting members of
13 this committee have been screened for potential
14 financial conflicts of interest of their own, as
15 well as those imputed to them, including those of
16 their spouses or minor children and, for purposes
17 of 18 U.S.C. Section 208, their employers. These
18 interests may include investments; consulting;
19 expert witness testimony; contracts, grants,
20 CRADAs; teaching, speaking, writing; patents and
21 royalties; and primary employment.

22 The committee will discuss new drug

1 application 211723 for tazemetostat tablets
2 submitted by Epizyme, Inc. The proposed indication
3 used for this product is for the treatment of
4 patients with metastatic or locally advanced
5 epithelioid sarcoma not eligible for curative
6 surgery.

7 This is a particular matters meeting during
8 which specific matters related to Epizyme's NDA
9 will be discussed. Based on the agenda for today's
10 meeting and all financial interests reported by the
11 committee members and temporary voting members, no
12 conflict of interest waivers have been issued in
13 connection with this meeting. To ensure
14 transparency, we encourage all standing members and
15 temporary voting members to disclose any public
16 statements that they have made concerning the
17 product at issue.

18 With respect to FDA's invited industry
19 representative, we would like to disclose that the
20 Dr. Jonathan Cheng is participating in this meeting
21 as a non-voting industry representative, acting on
22 behalf of regulated industry. Dr. Cheng's role at

1 this meeting is to represent industry in general
2 and not any particular company. Dr. Cheng is
3 employed by Merck and Company.

4 We would like to remind members and
5 temporary voting members that if the discussions
6 involve any other firms not already on the agenda
7 for which an FDA participant has a personal or
8 imputed financial interest, the participants need
9 to exclude themselves from such involvement, and
10 their exclusion will be noted for the record. FDA
11 encourages all other participants to advise the
12 committee of any financial relationships that they
13 may have with the firm at issue. Thank you.

14 DR. HOFFMAN: We will now proceed with the
15 FDA's introductory comments from Dr. Ashley Ward.

16 **FDA Opening Remarks - Ashley Ward**

17 DR. WARD: Members of the advisory
18 committee, of the Epizyme team, invited guests,
19 visitors, and FDA colleagues, good morning. My
20 name is Ashley Ward. I'm a pediatric oncologist in
21 the Office of Oncologic Diseases, and I'm the
22 cross-disciplinary team leader for the tazemetostat

1 new drug application. Epizyme is seeking
2 accelerated approval of tazemetostat for the
3 treatment of patients with metastatic or locally
4 advanced epithelioid sarcoma who are not eligible
5 for curative surgery.

6 As you will hear today, epithelioid sarcoma
7 is a rare malignant soft tissue sarcoma that
8 accounts for less than 1 percent of all soft tissue
9 sarcomas. The NCI estimates that there are
10 approximately 125 new cases of epithelioid sarcoma
11 diagnosed in the United States every year.

12 Patients are typically diagnosed between 20 and 40
13 years of age, and there's a 2 to 1 male
14 preponderance. There is a high propensity for
15 local and regional spread of the disease, and
16 approximately 50 percent of patients have
17 metastatic disease at the time of diagnosis.

18 Patients with metastatic disease have a
19 reported 5-year survival of 0 percent. Epithelioid
20 sarcoma is distinguished from other soft tissue
21 sarcomas by characteristic pathology findings and
22 distinct immunohistochemical or IHC staining.

1 Approximately 90 percent of cases of epithelioid
2 sarcoma show nuclear loss of INI-1 by IHC.

3 Wide surgical excision is the mainstay of
4 treatment for localized disease. Neoadjuvant or
5 adjuvant radiation therapy is often administered to
6 reduce local relapse, but systemic chemotherapy is
7 typically reserved for advanced stage disease.

8 Although there are no therapies approved
9 specifically for patients with epithelioid sarcoma,
10 doxorubicin and pazopanib are both approved for the
11 broader population of patients with soft tissue
12 sarcoma and are administered to patients with
13 epithelioid sarcoma.

14 The FDA clinical reviewer, Dr. Doros, will
15 describe the approvals of doxorubicin and pazopanib
16 and their use in patients with epithelioid sarcoma
17 in greater detail. Both Epizyme and the FDA will
18 highlight the inadequacy of available therapies for
19 patients with most forms of soft tissue sarcoma,
20 including epithelioid sarcoma.

21 Tazemetostat is a first-in-class orally
22 administered small molecule inhibitor of the

1 methyltransferase enhancer of zeste homolog 2,
2 otherwise known as EZH2. Epizyme postulates that
3 tazemetostat acts by restoring balance to a set of
4 proteins involved in chromatin remodeling and gene
5 expression in tumors that have lost the tumor
6 suppressor gene INI-1. However, the result and
7 impact on the biology of epithelioid sarcoma is not
8 well understood.

9 As Dr. Doros will explain in more detail,
10 the observation that tazemetostat appears to have
11 more robust activity in tumors with gain of
12 function EZH2 mutations than it does in tumors with
13 loss of INI-1 may indicate that INI-1 loss is not a
14 reliable predictor of a response to tazemetostat
15 and that the target of tazemetostat may be less
16 relevant for cancer cell survival in epithelioid
17 sarcoma.

18 The data submitted by Epizyme to support the
19 safety and efficacy of tazemetostat in patients
20 with epithelioid sarcoma come from Study EZH2, an
21 ongoing non-randomized trial of tazemetostat in
22 patients with various tumor types. You will hear

1 more detail about the design of this trial shortly.

2 Epizyme submitted the efficacy and safety
3 results of Cohort 5, which enrolled 62 patients
4 with epithelioid sarcoma as the primary basis on
5 which they're seeking approval of tazemetostat in
6 this indication. The FDA clinical reviewer,
7 Dr. Doros, will also describe Cohort 6 in some
8 detail. This cohort had very similar eligibility
9 criteria and enrolled an additional 44 patients
10 with epithelioid sarcoma. FDA considers that
11 Cohort 6 is in some sense a repeat experiment that
12 adds relevant information to the assessment of the
13 efficacy of tazemetostat.

14 In Cohorts 5 and 6, the overall response
15 rate, according to independent review using RECIST
16 version 1.1 criteria, was similar at 15 percent and
17 11 percent, respectively. Pooled analysis
18 demonstrated an overall response rate of 13
19 percent. The pooled duration of response ranged
20 from 3.5 months to more than 24 months, also
21 similar across cohorts. You will hear in detail
22 how these results compare to those of therapies

1 currently used to treat patients with epithelioid
2 sarcoma, as well as the limitations of these
3 comparisons later from Dr. Doros.

4 The most common adverse events experienced
5 by patients enrolled in Cohort 5 were pain,
6 fatigue, and GI symptoms. Forty-eight percent of
7 patients experienced a grade 3 or 4 adverse event
8 and 37 percent of patients had a serious adverse
9 event. It is important to note that these adverse
10 events are not necessarily all attributed to
11 tazemetostat. One of the limitations of a
12 single-arm trial is that it is not possible to
13 determine whether individual adverse events are
14 present at a higher frequency in patients who
15 receive tazemetostat than those who do not, and
16 thus establish a causal relationship.

17 Although 34 percent of patients required a
18 dose interruption for toxicity, dose reductions and
19 discontinuations of tazemetostat for toxicity were
20 uncommon. The adverse event profile associated
21 with tazemetostat will be discussed in more detail
22 by both Epizyme and the FDA.

1 As you will hear, an important risk of
2 tazemetostat is the risk of secondary malignancies
3 associated with its use. In the pooled safety
4 population of 822 adult and pediatric patients with
5 solid tumor or hematologic malignancies, 6, or
6 0.7 percent, developed secondary myelodysplastic
7 syndrome, acute myeloid leukemia, or T-cell
8 lymphoblastic lymphoma.

9 As T-cell lymphoblastic lymphoma occurred in
10 juvenile and adult rats during 13-week toxicology
11 studies and EZH2 loss-of-function mutations have
12 been identified in patients with spontaneous
13 hematologic malignancies, the development of
14 secondary malignancies may be an on-target effect
15 of tazemetostat.

16 Epithelioid sarcoma is a very rare cancer.
17 Most of the agents used to treat epithelioid
18 sarcoma are chemotherapeutic agents associated with
19 low response rates and substantial toxicities, and
20 there is a need for new therapies with a favorable
21 risk-benefit profile.

22 The FDA commends Epizyme for exploring

1 tazemetostat as a potential therapy for epithelioid
2 sarcoma, however, Study EZH-202 yielded an overall
3 response rate of just 11 to 15 percent, with a 95
4 percent confidence interval showing that the true
5 response rate may be as low as 4 to 7 percent.

6 While the applicant will argue that a large
7 fraction of patients had durable, stable disease,
8 the FDA does not consider stable disease to be a
9 reliable endpoint in a single-arm trial, as it is
10 not possible to assess whether any observed period
11 of stable disease is due to drug effect or
12 represents the natural history of the patient's
13 tumor.

14 Given the limited clinical experience with
15 tazemetostat and lack of comparative data, FDA
16 brought this application to the Oncology Drugs
17 Advisory Committee to enable public discussion of
18 the results of EZH2 and whether the evidence is
19 sufficient to demonstrate the benefit of
20 tazemetostat in patients with epithelioid sarcoma.

21 A key uncertainty regarding the application
22 is whether the low response rate observed on EZH-

1 202 will translate into a positive impact on
2 survival or other clinical benefit. Epizyme is
3 planning a randomized confirmatory trial of
4 tazemetostat with doxorubicin compared to
5 doxorubicin alone in patients with epithelioid
6 sarcoma. This may address this uncertainty,
7 however, enrollment to this trial has not yet
8 begun.

9 At the end of the discussion period, the
10 ODAC will be asked to vote on whether the
11 demonstrated benefit of tazemetostat outweighs the
12 risks of the drug in the proposed indication. This
13 concludes my remarks, and I thank you for your
14 attention.

15 DR. HOFFMAN: Thank you.

16 Both the Food and Drug Administration and
17 the public believe in a transparent process for
18 information gathering and decision making. To
19 ensure such transparency at the advisory committee
20 meeting, FDA believes that it is important to
21 understand the context of an individual's
22 presentation. For this reason, FDA encourages all

1 participants, including the sponsor's non-employee
2 presenters, to advise the committee of any
3 financial relationships that they may have with the
4 firm at issue, such as consulting fees, travel
5 expenses, honoraria, and interest in the sponsor,
6 including equity interest and those based upon the
7 outcome of the meeting.

8 Likewise, FDA encourages you at the
9 beginning of your presentation to advise the
10 committee if you do not have any such financial
11 relationships. If you choose not to address this
12 issue of financial relationships at the beginning
13 of your presentation, it will not preclude you from
14 speaking. We will now proceed with the applicant's
15 presentations.

16 **Applicant Presentation - Shefali Agarwal**

17 DR. AGARWAL: Good morning. Mr. Chair,
18 members of the ODAC, and the FDA, thank you for the
19 opportunity to present the data supporting the
20 accelerated approval application for tazemetostat
21 for the treatment of patients with metastatic or
22 locally advanced epithelioid sarcoma. I am

1 Dr. Shefali Agarwal, the chief medical officer at
2 Epizyme.

3 Epithelioid sarcoma is a rare and aggressive
4 soft tissue sarcoma with about 120 new cases per
5 year. Epithelioid sarcoma is very difficult to
6 treat and demonstrate lower objective response rate
7 than attainable in other soft tissue sarcomas. Our
8 application suggests that patients with locally
9 advanced or metastatic epithelioid sarcoma have a
10 median overall survival between 10 and 16 months.
11 All will eventually die from this serious cancer in
12 5 years or less.

13 Tazemetostat is a promising novel oral
14 therapy with both efficacy and safety advantages
15 for patients with metastatic or locally advanced
16 epithelioid sarcoma. While tazemetostat
17 demonstrates a similar or better overall response
18 rate to standard of care therapies, both the median
19 duration of response and the median overall
20 survival are longer.

21 For tazemetostat, the median DOR was 16.4
22 months and some patients achieved durable stable

1 disease. The median overall survival was 19
2 months, and some patients achieved a clinical
3 benefit after the disease progression, which may be
4 linked to the time it takes for an epigenetic
5 therapy like tazemetostat to stabilize and shrink
6 tumors. This unique benefit shows that overall
7 response rate alone is insufficient to fully define
8 clinical benefit.

9 Additionally, tazemetostat is well tolerated
10 with advantages over standard of care. Unlike
11 those options, the tolerability allows patients to
12 remain on therapy. Adverse events led to very few
13 discontinuations and dose reductions. Given that
14 Epizyme's tazemetostat study is the fourth
15 prospective epithelioid sarcoma study, it's
16 important to compare it with the published
17 retrospective cases of patients with epithelioid
18 sarcoma.

19 The overall response rate ranged from 0 to
20 27 percent. We agree with the FDA regarding the
21 limited nature of the existing literature and that
22 these rates are likely inflated. The true response

1 may be less than reported because these small
2 studies may not have used RECIST criteria, and they
3 largely include patients with locally advanced
4 disease. In contrast, the tazemetostat study had a
5 majority of patients with metastatic disease and
6 used RECIST criteria.

7 Regardless of an ORR of zero, or 27 percent,
8 those responses are typically of short duration
9 with a median DOR of less than 2 months and
10 resulted in a median overall survival of between 10
11 and 16 months. These results highlight the value
12 of a new therapy like tazemetostat that can provide
13 a similar or better objective response, the
14 opportunity for disease stabilization,
15 significantly longer DOR, and longer overall
16 survival.

17 As seen with other epigenetic therapies,
18 tazemetostat needs time to achieve the maximum
19 effect. As a selective, potent first-in-class
20 inhibitor of histone methyltransferase EZH2, it
21 targets a known oncogenic driver. In an epithelial
22 sarcoma cell line, it took about 7 days for

1 tazemetostat to show cell growth inhibition, which
2 was further enhanced over the next 7 days. This is
3 unlike chemotherapy that works within a day.

4 There are many steps within EZH2 inhibition
5 and an antiproliferative effect, including effects
6 on DNA replication, NmRNA, and protein production
7 before ultimately resulting in a delayed response.
8 In summary, tazemetostat needs time to elicit
9 benefit, which is supported by this preclinical
10 data.

11 Looking more closely at tazemetostat's
12 normal mechanism of action, in normal cells, the
13 SWI/SNF complex restricts PRC2 function, to
14 coordinating expression, and to regular normal cell
15 growth. This is important for keeping EZH2
16 activity in check to prevent uncontrolled cell
17 growth, however, SWI/SNF can be rendered
18 dysfunctional if one of its key proteins is lost.
19 One such key protein is INI-1.

20 When INI-1 is lost, there is aberrant EZH2
21 activity. This can lead to uncontrolled cell
22 proliferation and ultimately an oncogenic

1 dependency on EZH2. This is one of the mechanisms
2 that is essential for tumor growth in epithelioid
3 sarcoma. Tazemetostat works through a potent and
4 selective inhibition of EZH2. Thus, with
5 tazemetostat, we have a strategy for killing tumor
6 cells that are dependent on EZH2.

7 The proposed indication for tazemetostat is
8 for the treatment of patients with metastatic or
9 locally advanced epithelioid sarcoma who are not
10 eligible for curative surgery. Let me summarize
11 how the data we will present today fulfill the
12 three criteria for accelerated approval.

13 First, epithelioid sarcoma is a serious,
14 life-threatening and rare malignancy with few
15 effective treatment options. Second, tazemetostat
16 does provide a meaningful advantage over existing
17 therapies that extend just beyond ORR. The median
18 duration of response is about twice as long and
19 mean overall survival was 3 months longer than
20 previously reported.

21 As you will see, patients can achieve and
22 maintain clinical benefit even after radiological

1 progression, contributing to improvements in tumor
2 burden, and unlike available therapies,
3 tazemetostat is well tolerated with a favorable
4 safety profile. This alone provides a meaningful
5 advantage. We believe that tazemetostat's
6 epigenetic effect on long-standing stabilization of
7 disease is reasonably likely to predict for
8 clinical benefits. In addition, we have
9 collaborated with the FDA to design a randomized
10 placebo-controlled confirmatory study.

11 Here is the agenda for the presentation
12 today. We also have additional external experts.
13 All have been compensated for their time and
14 travel. Thank you. I'll now turn the lectern over
15 to Dr. Patel to discuss the unmet need.

16 **Applicant Presentation - Shreyaskumar Patel**

17 DR. PATEL: Thank you, Dr. Agarwal, good
18 morning. I'm Shreyas Patel, medical director of
19 the Sarcoma Center at the University of Texas MD
20 Anderson Cancer Center. I've been treating
21 sarcomas for the last 30 years. I've seen
22 firsthand the serious and urgent need for new

1 therapies for patients diagnosed with metastatic
2 epithelioid sarcoma.

3 Let me begin by emphasizing just how high
4 the unmet need is for patients with metastatic
5 disease. Today, there are limited tolerable
6 treatment options that provide prolonged tumor
7 regressions and control tumor growth. Epithelioid
8 sarcoma is mostly unresponsive to available
9 chemotherapy and other agents that can be used
10 fairly effectively to treat other solid tumors,
11 including other soft tissue sarcomas.

12 This suboptimal state of science is
13 compounded by the fact that this rare and incurable
14 cancer mostly strikes young active people in the
15 prime of their lives; and typically, like other
16 rare diseases, the journey to a proper diagnosis
17 can be long, which can allow the tumor to progress
18 and metastasize.

19 Epithelioid sarcoma is a rare soft tissue
20 sarcoma representing only 1 percent of all cancer
21 diagnoses in adults of which epithelioid sarcoma
22 comprises less than 1 percent, and the disease

1 typically affects patients between 20 and 40 years
2 of age. Epithelioid sarcoma can present in a
3 number of challenging ways. They can be bulky.
4 They can appear in challenging locations such as in
5 major organs and serosal membranes, and there also
6 may be numerous small metastatic lesions.

7 By the time most patients receive a
8 definitive epithelial sarcoma diagnosis, their
9 disease is late stage, patients have a very poor
10 prognosis, and ultimately die from this fatal
11 disease. In fact, almost 50 percent of patients
12 will be diagnosed with metastatic disease and
13 surgery is no longer an option.

14 It is important to distinguish early-stage
15 epithelioid sarcoma from metastatic epithelioid
16 sarcoma. While the FDA briefing document
17 characterizes epithelioid sarcoma as slow growing,
18 the patients being discussed today have metastatic
19 disease, and this late-stage disease does show
20 rapid growth. The reported 5-year survival rate in
21 patients with metastatic or locally advanced
22 disease is approaching zero percent with median

1 overall survival of 10 to 16 months.

2 Here is an example of a patient with
3 metastatic epithelioid sarcoma with tumor in the
4 chest that demonstrates rapid disease progression
5 despite currently available treatments. We can
6 also see how tumor location can present some unique
7 challenges.

8 This is a baseline CT scan of the chest of
9 a patient with bulky bilateral hilar metastasis.
10 Six weeks later on standard chemotherapy, the
11 disease progressed at a relatively rapid pace. We
12 also know that progressive metastatic disease can
13 be associated with worsening of symptoms, in this
14 case progressive shortness of breath and gradual
15 clinical decline.

16 A treatment that could induce durable
17 stabilization of disease would benefit this
18 patient, but unfortunately we only have a few
19 options to offer patients with locally advanced or
20 metastatic disease. None have been approved
21 specifically for epithelioid sarcoma, and all have
22 limited efficacy and serious safety risks.

1 There are two FDA-approved therapies for the
2 broader category of soft tissue sarcomas and used
3 in patients with advanced epithelioid sarcoma,
4 doxorubicin and pazopanib. Neither have
5 demonstrated impressive efficacy in epithelioid
6 sarcoma. Gemcitabine and docetaxel are also used
7 off label for soft tissue sarcomas.

8 Epithelioid sarcoma is more treatment
9 resistant than most other variants of soft tissue
10 sarcomas. This makes comparing response rates
11 between epithelioid sarcoma and the broader
12 category of soft tissue sarcomas unreliable, and
13 serious safety risks, including cardiotoxicity,
14 severe myelosuppression, and hepatotoxicity force
15 many patients to discontinue these therapies.

16 In summary, patients with metastatic or
17 locally advanced epithelioid sarcoma have an
18 immediate need for a novel therapy that offers
19 efficacy, safety, and tolerability, allowing them
20 to stay on therapy for an extended period of time.
21 This rare disease is frequently diagnosed late when
22 the tumors are unresectable. These patients are

1 generally young and often otherwise healthy.
2 Durable treatment options that would stabilize
3 disease for these patients would be a meaningful
4 clinical benefit. Unfortunately, with such a small
5 patient population and little innovation, no
6 available therapies address these needs.

7 Thank you, and Dr. Agarwal will now present
8 the efficacy results for tazemetostat, which
9 responds to this urgent need for these patients.

10 **Applicant Presentation - Shefali Agarwal**

11 DR. AGARWAL: Thank you, Dr. Patel.

12 I will review the efficacy results for
13 tazemetostat that demonstrate clinically meaningful
14 and durable responses for patients with metastatic
15 or locally advanced epithelioid sarcoma. The
16 primary evidence of efficacy supporting our
17 application comes from Study 202. This is an
18 ongoing phase 2, open-label, single-arm study of
19 tazemetostat in patients who have been placed into
20 1 of 7 cohorts, based on their specific type of
21 cancer.

22 As discussed with the FDA, our focus today

1 is on Study 202, Cohort 5, which we will refer to
2 as the primary epithelioid sarcoma population since
3 it's the primary cohort for evaluating efficacy in
4 the NDA. Of note, Cohort 6 in patients with
5 epithelioid sarcoma was added after initiation of
6 Cohort 5. The data were only recently shared with
7 FDA, and the cohort is not yet mature.

8 Study 202, Cohort 5 is the first prospective
9 study to evaluate patients with locally advanced
10 metastatic epithelioid sarcoma. These patients
11 were treated with 800 milligrams of tazemetostat
12 twice daily. A total of 62 patients were enrolled,
13 59 adult and 3 pediatric. Investigators evaluated
14 objective response every 8 weeks using RECIST 1.1
15 criteria. A blinded central independent review
16 committee, or IRC, also reviewed all radiology
17 scans in chronological order. The IRC assessments
18 were used to determine clinical response.

19 The protocol explicitly allowed patients
20 assessed as having progressive disease, based on
21 RECIST criteria, to continue tazemetostat. This
22 decision was made at the discretion of the

1 investigator in consultation with the patient if
2 they perceived an ongoing benefit from therapy.
3 For example, a new lesion would signify disease
4 progression even when the tumor burden had
5 stabilized or reduced.

6 These patients continue to be evaluated
7 every 8 weeks for as long as they remain on
8 therapy, but they were not included in the efficacy
9 analysis after first progression. Patients
10 remaining on tazemetostat did not receive
11 concomitant antineoplastic therapy. The primary
12 endpoint was objective response rate, including
13 complete and partial responses. Secondary
14 endpoints included duration of response, disease
15 control rate, progression-free survival, and
16 overall survival.

17 Moving to demographics, Cohort 5 included a
18 mostly male young adult population that is
19 representative of a real-world patient with
20 epithelioid sarcoma. Median age was 34 years and
21 most patients were white. Seventy-one percent had
22 advanced disease at the time of their diagnosis and

1 94 percent had metastatic disease at study entry.
2 Ninety-five percent of patients had progressive
3 disease prior to study entry with a median time
4 from last progression of 1.4 months. Median
5 diameter was 58 millimeters and tumor ranged from
6 11 to 218 millimeters.

7 The type of prior cancer-related therapies
8 were consistent with standard of care for
9 epithelioid sarcoma. Most patients had undergone
10 cancer-related surgical procedures. Forty-two
11 percent had undergone prior amputation or major
12 resection and most received prior radiotherapy.
13 Sixty-one percent had received at least one prior
14 systemic therapy such as doxorubicin, but time on
15 prior systemic therapy was short with a median
16 duration of 2.4 months.

17 As of the data cutoff, 13 percent of
18 patients remained on tazemetostat. The primary
19 reason for discontinuation was disease progression,
20 either radiological or clinical. Importantly, only
21 2 percent of patients discontinued due to an
22 adverse event.

1 Looking next at the primary endpoint
2 results, 15 percent of patients achieved a primary
3 endpoint of a complete or partial response,
4 demonstrating meaningful activity of tazemetostat
5 in patients with epithelioid sarcoma. Since 95
6 percent of these patients had progressive disease
7 at study entry, this represents a meaningful
8 reduction in tumor burden.

9 Importantly, 21 percent of patients achieved
10 disease control beyond 32 weeks. Let's more
11 closely look at these results. A total of 63
12 percent of patients achieved a complete response,
13 partial response, or stable disease as their best
14 response during the study. This included 1 patient
15 with a complete response and 8 patients with
16 partial responses.

17 When looking at the percent change in target
18 lesion diameter in this waterfall plot, 68 percent
19 of patients had a reduction in tumor burden.
20 Remember that the majority of patients entered the
21 study with advanced stage progressive disease, so
22 this plot provides strong evidence of the direct

1 effect of tazemetostat on this serious disease.

2 In the 9 patients achieving a complete or
3 partial response, responses were durable. The
4 median duration of response was 16.4 months or 69.7
5 weeks with approximately 60 weeks of follow-up.
6 Keep in mind that the median survival is usually
7 less than one year. This duration of response is
8 important, but only tells part of the story for an
9 epigenetic therapy that takes time to demonstrate
10 an effect; so we will examine individual responses
11 by looking at percent change in each patient's
12 target lesion diameter on the Y axis for time in
13 the next series of slides.

14 Here is the starting spaghetti plot of the
15 full Cohort 5 ITT population segmented by best
16 overall response. As you can see, the majority of
17 these patients saw stabilization or reduction in
18 tumor burden. Let's review the tumor burden based
19 upon the response.

20 Here are the 9 patients with an objective
21 response, showing sustained benefit in tumor burden
22 with a median DOR longer than previously reported.

1 The median time to response was 17.1 weeks. This
2 highlights the importance of a well-tolerated
3 treatment option that allows patients to remain on
4 therapy long enough to achieve a response, but
5 let's look at those patients without an objective
6 response.

7 Beginning with the 30 patients with stable
8 disease, 10 of the 30 stable disease patients chose
9 to continue therapy post-radiological progression,
10 shown by the red diamonds. On each of these
11 patients, the investigator stated that they
12 perceived a continued clinic benefit, as can be
13 seen by the stabilization in tumor burden. Two of
14 these patients remained on therapy for over 18
15 months. There were another 2 stable disease
16 patients that remained on therapy for well over a
17 year. Although neither achieved a clinical
18 response, they also did not experience disease
19 progression and would remain on therapy at data
20 cutoff.

21 We also saw indicators of clinical benefit
22 in another 3 patients who discontinued tazemetostat

1 and appeared to have reduced tumor burden. Two
2 patients showed a reduction in the target lesion
3 size but discontinued therapy at disease
4 progression. The third was censored as a patient
5 due to pursue surgery.

6 If we combine these patients, 15 of the 30
7 patients, or half, with stable disease appear to be
8 gaining a benefit. These results align with
9 expectations for an epigenetic therapy that takes
10 time to affect the tumor burden. In some cases,
11 patients regained a clinical benefit following
12 progression.

13 Let's finally look at the 19 patients with
14 progressive disease. In 5 of these 19 patients,
15 the investigator and patient chose to continue
16 tazemetostat because they perceived a clinical
17 benefit. As you can see, 2 of the 5 remained on
18 therapy for over one year, and one of those was
19 continued on therapy at the time of data cutoff.
20 We also saw indicators of potential benefit in
21 other patients with progressive disease. In fact,
22 another 3 patients appeared to have reduction in

1 their target lesions below the third threshold for
2 a partial response or discontinued therapy due to
3 progression.

4 Thus, we see 8 of 19 patients with
5 progressive disease with indication of benefit.
6 These data also suggest the potential epigenetic
7 benefit after radiological progression. In fact,
8 some patients who continued with tazemetostat
9 post-RECIST progression actually achieved a partial
10 response. In Cohort 5, 2 patients achieved a
11 partial response in the original target lesions
12 post-disease progression. While not included in
13 the overall response rate, these results are
14 clinically meaningful.

15 We also observed the same situation in
16 Cohort 6. Two patients achieved a PR in their
17 original target lesions post-RECIST progression.
18 These observations reinforce that epigenetic
19 therapies take time to achieve maximal benefit.

20 The prolonged benefit also appears to result
21 in a median overall survival that is longer than
22 previously published. Median overall survival was

1 at 19 months or 82.4 weeks. This exceeds the
2 literature reported median overall survival of 10
3 to 16 months in patients with metastatic disease.
4 Survival estimates at 32 and 56 weeks support the
5 benefit of tazemetostat for the treatment of this
6 rare and incurable cancer. At 56 weeks, the
7 proportion of patients whom remained alive was 57
8 percent.

9 Next, we looked at the relation between
10 overall survival and disease control rate.
11 Study 202 showed alignment between disease control
12 and survival, as shown. This analysis includes the
13 47 patients who are alive at week 32 and shows
14 overall survival by week starting at 32 weeks.
15 Patients are categorized into either disease
16 control at 32 weeks, shown in blue, or no disease
17 control at 32 weeks, shown in gold.

18 As you can see, there is clear separation
19 between patients achieving disease control at 32
20 weeks and those who do not, with a p-value of
21 0.0236. This supports that disease control,
22 including stable disease, at 32 weeks correlates

1 with overall survival. Let's now briefly review
2 some results from Cohort 6 that were recently
3 provided to FDA.

4 Overall, the data for Cohort 6 align with
5 pivotal Cohort 5 results. Eleven percent of
6 patients achieved an objective response with 14
7 percent attaining disease control to 32 weeks.
8 Note these are interim analyses and 18 percent of
9 patients remain on therapy. As of the data cutoff
10 date, median duration response had not been
11 reached. Median overall survival was 71.9 weeks,
12 supporting the benefit of tazemetostat compared to
13 chemotherapies.

14 Importantly, the spaghetti plot showing
15 individual responses over time for Cohort 6 reveals
16 the same delayed onset epigenetic benefit we just
17 showed for Cohort 5 beyond just the ORR. Patients
18 that continued tazemetostat appeared to gain a
19 tumor burden benefit.

20 Based on the success of phase 2 study,
21 Epizyme has initiated a large randomized,
22 placebo-controlled confirmation study to data mine

1 tazemetostat's treatment effect on PFS. This study
2 will provide the necessary evidence of clinical
3 benefit for full approval.

4 Study 301 is a global, phase 3,
5 multicentered, double-blind, placebo-controlled
6 study in patients with locally advanced
7 unresectable or metastatic epithelioid sarcoma. It
8 will evaluate tazemetostat in combination with
9 doxorubicin as frontline therapy. Patients will be
10 randomized to receive tazemetostat metastatic plus
11 doxorubicin or placebo plus doxorubicin for
12 6 cycles; then all patients will continue on
13 monotherapy of maintenance of tazemetostat or
14 placebo in a blinded fashion until disease
15 progression, toxicity, or withdrawal.

16 In conclusion, tazemetostat's novel
17 mechanism of action offers clinically meaningful
18 benefit for these patients with this progressive,
19 incurable disease. The primary epithelioid sarcoma
20 population in Study 202 provides the first
21 prospective data in patients with locally advanced
22 and metastatic epithelioid sarcoma. The study was

1 able to demonstrate a 15 percent objective response
2 rate supported by strong median duration of
3 response of 16.4 months. This demonstrates the
4 opportunity for prolonged benefit in patients at
5 risk for rapid relapse, and importantly, 68 percent
6 of patients had a reduction in tumor burden
7 supporting tazemetostat's direct effect on this
8 cancer.

9 Of patients who were progressing at the time
10 of entry, 21 percent had disease control at
11 32 weeks, which we believe to be correlated with
12 survival. Finally, tazemetostat continued to show
13 benefit in patients even after RECIST progression.
14 This highlights an important feature of the
15 epigenetic mechanism of action. Thank you.
16 Dr. Demetri will now present the safety results for
17 tazemetostat.

18 **Applicant Presentation - George Demetri**

19 DR. DEMETRI: Thank you. I'm Dr. George
20 Demetri, director of the Sarcoma Center at the
21 Dana-Farber Cancer Institute in Boston,
22 Massachusetts, and I'm an investigator on the

1 phase 2 tazemetostat study for epithelioid
2 sarcomas. I'd like now to present the safety
3 profile for tazemetostat.

4 Tazemetostat has a favorable safety and
5 tolerability profile that differs from currently
6 available sarcoma therapies. Adverse events are
7 easily manageable with a low rate of
8 discontinuations. Unlike what we see with current
9 standards of care, tazemetostat enables patients to
10 stay on therapy. We saw no clinically significant
11 nor fatal cardiotoxicity, nor hepatotoxicity.

12 My presentation will focus on the primary
13 epithelioid sarcoma population as they best
14 represent the safety profile that can be expected
15 in patients with epithelioid sarcomas. In
16 addition, tazemetostat has been evaluated in
17 686 adult patients with advanced malignancies, who
18 received the proposed dose of 800 milligrams twice
19 daily.

20 In the primary epithelioid sarcoma
21 population, the median duration of treatment was
22 5.5 months. This is twice the treatment duration

1 compared to what we'd expect and sought from prior
2 systemic therapy in this patient population.

3 Almost half of the patients remained on
4 tazemetostat for more than 24 weeks, which is also
5 an indirect indication of tolerability.

6 Treatment compliance in Study 202 was high.
7 Most patients took an average of 786 milligrams of
8 the 800-milligram recommended dose, and 95 percent
9 of patients took at least 90 percent of the doses.
10 This compliance rate aligns with the tolerability
11 profile and the favorable ability to remain on
12 tazemetostat.

13 The overall tazemetostat safety profile in
14 patients with epithelioid sarcoma is similar to the
15 experience of all patients treated with the target
16 dose of 800 milligrams twice daily. This also
17 indicates a stable, predictable, and consistent
18 safety profile for tazemetostat.

19 While all patients experienced some adverse
20 event, less than half were grade 3 or 4 in
21 severity, and only a small percentage of those were
22 deemed related to therapy. About one-third of

1 patients required dose interruptions that appear
2 mostly related to lab abnormalities rather than
3 symptomatic adverse events, and importantly, only
4 one patient needed a dose reduction. This is very
5 uncommon with current anticancer therapies
6 supporting a good tolerability profile.

7 Additionally, adverse events leading to
8 discontinuations were very low, highlighting the
9 fact that while adverse events do occur, they are
10 readily managed, allowing patients to remain on
11 therapy.

12 Here are the adverse events reported in more
13 than 15 percent of patients. As you see, fatigue,
14 nausea, and cancer pain occurred most frequently in
15 the primary epithelioid sarcoma population. The
16 frequency and nature of these events observed were
17 consistent with those commonly seen in the
18 treatment of metastatic or locally advanced
19 epithelioid sarcomas. The most common grade 3 or
20 grade 4 adverse events were anemia and weight
21 decrease. There were no cases of neutropenia nor
22 thrombocytopenia. Again, the nature and severity

1 of these events are consistent with those commonly
2 seen in patients with this advanced disease.

3 All but two serious adverse events were
4 assessed as unrelated to tazemetostat and
5 attributed to the underlying disease and/or
6 comorbidity. As expected in patients with
7 metastatic epithelioid sarcoma, which affects the
8 lungs and the pleura, the most common events were
9 hemoptysis and pleural effusion. There were no
10 deaths due to any adverse event in the primary
11 epithelioid sarcoma population.

12 Let's move now to review of the identified
13 potential risk of secondary malignancies.
14 Secondary malignancies were infrequent across the
15 entire development program of this agent, and there
16 were no reports in the primary epithelioid sarcoma
17 population.

18 Across the program, through the most recent
19 data cutoff, there have been 6 cases of secondary
20 malignancies reported in 849 patients exposed or
21 less than 1 percent. There was one pediatric
22 patient with T-cell lymphoblastic lymphoma and

1 5 patients with myeloid malignancies. Let me
2 review each of these cases in more detail.

3 Epizyme considers the risk for T-cell
4 lymphoblastic lymphoma to be largely concentrated
5 in pediatric patients based upon the higher drug
6 exposure in these patients and the intact T-cell
7 precursor compartment in pediatric patients from
8 which T-LBL is derived.

9 This patient was a 9-year-old female who had
10 a diagnosis of chordoma and developed this
11 secondary malignancy on study day 432 after
12 achieving a complete response, and the T-LBL
13 subsequently resolved. She remains alive today.
14 This case of T-LBL may be related to tazemetostat,
15 though, based on EZH2 literature and nonclinical
16 safety data. Based on this finding, the
17 tazemetostat dose used in the Epizyme pediatric
18 studies has subsequently been reduced to
19 520 milligrams per meter squared twice daily.

20 All of the patients with myeloid
21 malignancies had other risk factors. One patient
22 had MDS/MPN. One had lower risk MDS that

1 progressed to AML. One had a higher risk MDS and
2 two developed AML. All five occurred after
3 prolonged exposure between study days 441 and 842.
4 All of these patients had factors in their medical
5 history that predisposed them to these malignancies
6 and confound interpretation. All patients had
7 prior systemic and/or radiotherapy. At baseline,
8 one patient had preexisting dysplastic changes in
9 the bone marrow and two had hematologic
10 abnormalities.

11 In carefully analyzing each of these cases,
12 the risk for myeloid malignancies with tazemetostat
13 treatment remains unclear. Furthermore, since 4 of
14 the 5 events were in lymphoma, the risk observed is
15 consistent with what has been seen in an overall
16 lymphoma population. Nonetheless, with this
17 uncertainty, it is prudent to include a warning in
18 the label regarding increased risk for secondary
19 malignancies. Epizyme is recommending that
20 patients be monitored for the possible development
21 of these secondary malignancies.

22 In conclusion, though tazemetostat offers a

1 very manageable safety profile to the generally
2 young and active patients with epithelioid sarcoma
3 that allows them to stay on therapy long term, the
4 safety profile of the primary epithelioid sarcoma
5 population, with regard to the nature, frequency,
6 and severity of the adverse events, is consistent
7 with that sadly observed in the target dose adult
8 population.

9 The fact that few patients discontinued or
10 had to decrease their dose demonstrate
11 tazemetostat's favorable toxicity profile. This
12 overcomes significant safety barriers with
13 currently available therapies. Thank you, and now
14 Dr. Schwartz will provide his clinical perspective

15 **Applicant Presentation - Gary Schwartz**

16 DR. SCHWARTZ: Thank you, Dr. Demetri.

17 I'm Gary Schwartz, chief of the Division of
18 Hematology and Oncology at the Columbia University
19 Medical Center and deputy director of the Herbert
20 Irving Comprehensive Cancer Center in New York.
21 I've had decades of experience caring for patients
22 with rare sarcomas, with epithelioid sarcoma in

1 particular. Based on data presented, as well as my
2 experience using tazemetostat in the clinical trial
3 program, it's my conclusion that the benefits of
4 tazemetostat clearly outweigh the risks.

5 In studies of monotherapy, there is clear
6 evidence that tazemetostat is active against
7 epithelioid sarcoma. Tazemetostat conferred an
8 overall response rate of 15 percent and a disease
9 control rate at 21 percent. In addition, we saw
10 that nearly 70 percent of patients had a reduction
11 in their tumor burden, many with prolonged stable
12 disease over the course of the study. This result
13 is particularly significant given the fact that
14 almost all patients entered the study at an
15 advanced stage and had progressive disease.

16 When considering tazemetostat, benefit over
17 current therapy, we need to consider both the
18 objective response and the duration response. Here
19 are reported rates for tazemetostat alongside
20 recently published registration level studies using
21 doxorubicin and pazopanib as monotherapy for soft
22 tissue sarcomas. These enrolled a population most

1 similar to tazemetostat and are aligned with what
2 I've seen in my 30 years of experience. Even if we
3 assume that the reported ORR in soft tissue
4 sarcomas with doxorubicin is similar to
5 tazemetostat, we know the duration of response for
6 tazemetostat is longer, with median duration of
7 over 16 months.

8 In epithelioid sarcoma, it's also important
9 to recognize that some patients on tazemetostat
10 treatment benefit from prolonged stabilization of
11 their progressive disease. For these patients,
12 stopping the increase in disease burden from the
13 progressive disease is a clinical beneficial event.
14 When counseling patients, I discuss that
15 tazemetostat is an epigenetic therapy, and its
16 tumor shrinking effect can and often does take
17 time. Prolong treatment also gives the opportunity
18 to convert stable disease to a partial response in
19 the target lesions.

20 As a non-cytotoxic therapy, patients are not
21 exposed to debilitating adverse events; therefore,
22 prolong treatment with tazemetostat is possible

1 with good tolerability. It's this duration that is
2 so intriguing about tazemetostat. For example, we
3 look at this time plot showing treatment for the 62
4 patients in Cohort 5, and it clearly shows the
5 prolong treatment durations.

6 The 13 patients who achieved disease control
7 through 32 weeks represent most of those with the
8 longest duration of treatments, as represented by
9 the blue lines at the top, but it's very important
10 to note that some patients continue on tazemetostat
11 following RECIST progression due to an ongoing
12 benefit of their target lesions. These are the
13 patients who have a red diamond at the time of
14 RECIST progression and they continue treatment with
15 tazemetostat.

16 Let's look more closely at the 17 patients
17 who continued on tazemetostat post-RECIST
18 progression, indicating that the patient and
19 investigator believed there is an ongoing benefit.
20 This includes 4 patients who attained disease
21 control, shown in blue, and 13 patients who did
22 not, shown in gray. In fact, three of the patients

1 who never achieved a response have remained on
2 therapy for a year post-radiological progression,
3 shown by the top 3 gray bars. This prolonged
4 duration of treatment not only speaks to efficacy
5 but also to the advantageous safety profile, which
6 allows patients to stay on therapy.

7 It is remarkable to see just how well
8 patients tolerated tazemetostat. Discontinuation
9 due to adverse events are rare, with only one
10 patient discontinuing, and only one patient
11 required a dose reduction, which is almost unheard
12 of with oncology therapies. This differs
13 significantly from current therapies for
14 epithelioid sarcoma.

15 In addition, tazemetostat offers an improved
16 safety profile compared to current therapies for
17 epithelioid sarcoma, which is known to be with
18 cardiotoxicity, myelosuppression, and hepatic
19 toxicity. In fact, most of the grade 3 adverse
20 events were easily treatable and have little
21 clinical impact on the patient. The grade 4 SAEs
22 are the real differentiator, showing an improved

1 safety profile with this drug over doxorubicin. In
2 fact, only 2 patients reported an SAE deemed to be
3 related to tazemetostat. In addition, we did not
4 see cardiac dysfunction or neutropenia.

5 As we think again about these patients,
6 keeping in mind just how young and active they tend
7 to be, we know they could benefit from an easy to
8 use convenient and tolerable therapy, and they
9 often express great anxiety about having to endure
10 chemotherapy. Not only the serious events with
11 current therapies difficult to manage, they also
12 can require clinic visits with dosing changes or IV
13 administration, taking time from work or family.
14 This is another benefit of tazemetostat, which is a
15 convenient oral therapy that patients can take at
16 home.

17 Before we conclude, I'd like to share the
18 story of a patient who I think epitomizes how this
19 new therapy could improve the care we can offer to
20 patients with epithelioid sarcoma. Being diagnosed
21 with a rare fatal tumor is a surprise for anyone,
22 but doctors get cancers, and my patient was a

1 doctor. She had a lesion in the pelvis, and to
2 resect it would have resulted in morbid surgery, so
3 we elected to try to shrink the tumor first.

4 With the current options known to have
5 limited efficacy and significant toxicities, she
6 agreed to participate in a tazemetostat clinical
7 trial. She achieved a near complete response,
8 which allowed us to perform a resection of her
9 residual disease with much less morbidity. In the
10 absence of measurable disease, she actually went
11 off therapy.

12 As often happens with this disease, it
13 returned a year later, so we worked with the
14 sponsor to allow her to take the drug again. With
15 resumption of the therapy, she again attained a
16 near complete response, remaining on therapy for
17 over two years. During this time, she not only was
18 able to function as a full-time physician and
19 surgeon, she also gained a precious time that
20 allowed her to have a surrogate baby.

21 I share this story not only because it was
22 so meaningful to her, but also to me as her

1 treating physician. This demonstrates that
2 tazemetostat, a drug with a new mechanism of
3 action, benefits patients with limited options.
4 There's an urgent need for patients with late-stage
5 epithelioid sarcoma. We've been waiting for new
6 and innovative therapies that offer both efficacy
7 and safety, and that allow patients to tolerate and
8 stay in therapy for an extended period of time.

9 Tazemetostat offers the opportunity for both
10 responses and disease stabilization, and we should
11 move to make it broadly available now under the
12 accelerated approval pathway. Thank you.
13 Dr. Agarwal will now return to conclude.

14 **Applicant Presentation - Shefali Agarwal**

15 DR. AGARWAL: Thank you. My closing remarks
16 summarize the benefits over existing therapy that
17 support accelerated approval and a rationale for
18 bringing a new option to this rare difficult to
19 treat population. Tazemetostat is a promising
20 novel oral therapy with both efficacy and safety
21 advantages for patient with metastatic or locally
22 advanced epithelioid sarcoma.

1 While the ORR is similar or better than
2 what's been demonstrated with standard of care, the
3 duration response and ability to achieve
4 long-standing stable disease in these difficult to
5 treat patients with progressive disease is
6 clinically relevant, and we observed advantages in
7 overall survival.

8 Furthermore, many patients continued to
9 benefit from tazemetostat therapy even after
10 radiographic progression. In fact, 4 patients in
11 Cohort 5 and 6 achieved a threshold for partial
12 response after RECIST progression. This is
13 consistent with tazemetostat epigenetic mechanism
14 of action.

15 Finally, the tazemetostat advantage that is
16 most clear is safety. The tolerability profile
17 allows patients to remain on therapy and benefit
18 from the drug for an extended period of time
19 without toxic or bothersome side effects seen with
20 standard of care. Epizyme is confident that
21 tazemetostat fulfills the threshold for accelerated
22 approval in this rare patient population. Thank

1 you.

2 DR. HOFFMAN: Thank you very much.

3 Dr. Hawkins, would you just identify
4 yourself for the record?

5 DR. HAWKINS: Dr. Randy Hawkins, Charles
6 Drew University. And my apologies; the West Coast
7 time got the best of me this morning.

8 DR. HOFFMAN: We'll now proceed with the
9 presentation from the FDA, Dr. Doros.

10 **FDA Presentation - Leslie Doros**

11 DR. DOROS: Good morning. My name is Leslie
12 Doros. I'm a pediatric oncologist, and I'm the
13 clinical reviewer for the new drug application,
14 211723 for tazemetostat, submitted by Epizyme, who
15 will be referred to as the applicant for the rest
16 of the presentation.

17 The applicant has requested accelerated
18 approval for tazemetostat for the treatment of
19 patients with metastatic or locally advanced
20 epithelioid sarcoma, who are not eligible for
21 curative surgery. The proposed dosing regimen is
22 800 milligrams twice a day.

1 The key question the FDA has for the ODAC
2 today is whether the data from Study EZH-202
3 provides sufficient evidence to establish the
4 benefit of tazemetostat in patients with
5 epithelioid sarcoma.

6 Study EZH-202 is an ongoing, multicenter,
7 global, open-labeled, multi-cohort, non-randomized
8 trial in patients with a variety of solid tumors.
9 Cohorts 5 and 6 enrolled patients with epithelioid
10 sarcoma. Cohort 5 originally had a two-stage
11 design; where if at least one response was observed
12 by week 24 in the first 15 patients, the study
13 would enroll an additional 30 patients.

14 The study was amended to add an additional
15 30 patients to the original cohort if at least 5
16 responses were observed by week 24. The applicant
17 submitted data from 62 patients who were ultimately
18 treated on Cohort 5 as the basis for the new drug
19 application. FDA also requested data from the 44
20 patients who were treated on Cohort 6 to aid in
21 this review.

22 Efficacy and safety data from both cohorts

1 were submitted with the initial NDA using a data
2 cutoff date of September 17, 2018. Upon receipt of
3 this data, FDA noted that the ORR for Cohort 6 was
4 just 5 percent. FDA acknowledged that the duration
5 of follow-up for patients in Cohort 6 was shorter
6 than that for Cohort 5. At FDA's request, the
7 applicant provided an additional 10 months of
8 follow-up data for this cohort during the review
9 cycle. This updated data resulted in a similar
10 time of follow-up for Cohort 5, based on the
11 original submission, and Cohort 6, based on the
12 updated submission.

13 Although Cohort 5 was intended to evaluate
14 overall response rate and Cohort 6 was intended to
15 assess the pharmacodynamic effects of tazemetostat
16 on tumor immune priming, the eligibility criteria
17 for both cohorts were very similar. The only
18 differences were that demonstration of INI-1 loss
19 was required for Cohort 5 but not Cohort 6.
20 Consent for tumor biopsy was required for Cohort 6
21 but not for Cohort 5, and progression within
22 6 months of study entry was required for all

1 patients in Cohort 6 but only for some patients in
2 Cohort 5.

3 The latter two differences are not expected
4 to have notable impact on response rate, however,
5 as the applicant postulates that the tazemetostat
6 mechanism of action may be influenced by INI-1
7 loss, FDA acknowledges that this eligibility
8 criteria could have an impact on response rate,
9 which we will address shortly with a sensitivity
10 analysis. Otherwise, as the baseline disease
11 characteristics, patient demographics, median
12 follow-up time, and efficacy results are similar
13 between the two cohorts, FDA believes that
14 Cohorts 5 and 6 represent sufficiently similar
15 patient populations to allow pooled analysis of the
16 data.

17 As previously mentioned, data from Cohort 5
18 was submitted by the applicant as the primary
19 evidence of efficacy for this NDA. In this cohort,
20 one patient experienced a complete response and
21 eight experienced a partial response, leading to an
22 overall response rate of 15 percent. In what was

1 essentially a repeat experiment in Cohort 6, one
2 patient experienced a complete response and four
3 experienced a partial response for an overall
4 response rate of 11 percent. A pooled analysis of
5 the two cohorts yields a response rate of 13
6 percent.

7 The applicant emphasized that many patients
8 experience a best response of stable disease. As
9 Dr. Ward stated earlier, a single-arm trial cannot
10 be used to determine an effect on progression-free
11 survival, as observed periods of stable disease may
12 be due to the natural history of the tumor and not
13 drug effect. The applicant also described a subset
14 of patients who continued on therapy past
15 progression. It is important to note that the fact
16 that these patients stayed on tazemetostat so long
17 highlights the lack of alternative available
18 therapies rather than any benefit conferred by
19 tazemetostat.

20 The pooled duration of response ranged from
21 3.5 months to more than 24 months and was similar
22 across cohorts. A total of 7 patients had an

1 ongoing response at the time of the data cutoffs
2 for Cohorts 5 and 6. Of the 14 patients that
3 experienced a response, 9 had a response lasting
4 6 months or longer, and 4 had a response lasting
5 12 months or longer. Given the small number of
6 responding patients, FDA does not consider median
7 duration of response, as estimated by Kaplan-Meier
8 methods, to be a useful summary measure.

9 FDA performed post hoc sensitivity analyses
10 by subgroup to look for potential differential
11 treatment effects, although the small sample sizes
12 means that the results should be interpreted with
13 caution. FDA notes that ORR appears to be similar
14 across the original and expansion portions of
15 Cohort 5 and regardless of number of lines in prior
16 therapy. As FDA acknowledges that Cohort 6 allowed
17 enrollment of patients with tumors that retained
18 INI-1, FDA conducted a sensitivity analysis to
19 determine whether this may have impacted response
20 rate.

21 A total of 4 patients with retained INI-1
22 enrolled on Cohort 6. None of these patients had

1 an objective response. If these 4 patients are
2 removed from the analysis, the ORR for Cohort 6 is
3 12.5 percent. Therefore, the inclusion of patients
4 with retained INI-1 does not appear to have
5 substantially affected the reported ORR in this
6 cohort.

7 However, it should be noted that the
8 applicant has requested approval for tazemetostat
9 in an unselected patient population; that is,
10 patients who may or may not have INI-1 loss.

11 Therefore, FDA considers data from Cohort 6 to be
12 especially relevant for considering the response
13 rate that may be expected in such an unselected
14 patient population.

15 Although the magnitude and duration of
16 response are key to interpreting overall response
17 rate, the FDA considers many factors when assessing
18 whether an observed response rate is clinically
19 meaningful and represents or may predict benefit to
20 a patient. FDA considers benefits and risks of
21 other therapies used to treat that disease: the
22 clinical impact of tumor burden, the mechanism of

1 action of a drug as it relates to the biology of
2 that tumor, the body of knowledge regarding the
3 drug's effects in other settings, and the safety
4 profile of the drug.

5 Due to possible differences in these
6 factors, a response rate believed to be clinically
7 meaningful in one disease may not be clinically
8 meaningful in another disease. For the rest of the
9 presentation, I will walk through the FDA's
10 thinking regarding these factors as they relate to
11 tazemetostat and epithelioid sarcoma.

12 There are no therapies specifically approved
13 for patients with epithelioid sarcoma. Doxorubicin
14 and pazopanib are approved for the broader
15 population of patients with soft tissue sarcoma and
16 are commonly administered to patients with
17 epithelioid sarcoma. Doxorubicin was approved
18 based on a response rate of 24 percent observed in
19 234 patients treated across 9 clinical centers.
20 However, response criteria in that era generally
21 defined a response as greater than 50 percent
22 measurable decrease in tumor size. In contrast to

1 RECIST version 1.1, that defines a response as at
2 least a 30 percent decrease in the sum of diameters
3 of target lesions. Thus, the response rate used to
4 support the approval of doxorubicin cannot be
5 directly compared to that of tazemetostat.

6 Other factors that limit the comparability
7 of the data in the two applications include lack of
8 information regarding prior therapies in the
9 doxorubicin dossier and differences in what
10 constituted the efficacy of evaluable population
11 between the two applications. For example, some of
12 the studies used to support the doxorubicin
13 approval excluded patients who received fewer than
14 2 doses of doxorubicin from the analysis, which
15 deviates from the intent-to-treat statistical
16 principles typically used today.

17 To try to get more information about
18 response rates to doxorubicin in the modern era,
19 FDA reviewed published studies from 2009 to 2019,
20 which doxorubicin was the comparative arm for the
21 treatment of patients with soft tissue sarcoma in
22 the first-line setting. In these studies, the

1 response rates for doxorubicin ranged from 8
2 percent to 19 percent. There is insufficient data
3 regarding the duration of response for both
4 tazemetostat and doxorubicin to enable comparison
5 of that endpoint.

6 Pazopanib was approved in 2012 for the
7 treatment of patients with soft tissue sarcoma
8 after chemotherapy, based on the results of a
9 randomized placebo-controlled trial. Results
10 demonstrate an improvement of PFS over placebo with
11 an estimated hazard ratio of 0.35. The ORR was 4
12 percent in the pazopanib arm. In the subset of
13 patients on Study EZH-202 that had received prior
14 chemotherapy, tazemetostat yielded a response rate
15 of 11 percent. While this point estimate is
16 numerically higher than that of pazopanib,
17 differences in underlying patient populations
18 preclude direct comparison.

19 Because epithelioid sarcoma is a subset of
20 soft tissue sarcoma and may be biologically
21 distinct, FDA performed a review of the literature
22 to look specifically at studies of doxorubicin and

1 pazopanib for the treatment of patients with
2 epithelioid sarcoma. The available data was
3 limited and consisted of small retrospective case
4 studies. Both RECIST and WHO response criteria
5 were used, and the eligibility criteria and
6 resulting patient populations varied across the
7 studies.

8 From the reported data, FDA is unable to
9 conclude that patients with epithelioid sarcoma
10 treated with standard therapies have different
11 response rates than patients with other forms of
12 soft tissue sarcoma. All of the analyses presented
13 in the last two slides are limited by patient
14 numbers, as well as measured and unmeasured
15 differences in patient populations and differences
16 in the frequency, timing, and method of response
17 assessment.

18 The FDA considers the primary utility to be
19 in demonstrating that all therapies used to treat
20 epithelioid sarcoma have lower response rates and
21 that tazemetostat does not appear to confer
22 superior response rates compared to these agents,

1 based on available data.

2 Response rate and durability of response by
3 themselves are infrequently considered a direct
4 measure of clinical benefit by the FDA because it
5 is difficult to determine whether the patient
6 experiences any improvement in the way they feel or
7 function, based on that data alone. However, there
8 are some situations in which reduction of tumor
9 burden can be clearly considered a direct measure
10 of clinical benefit; for example, if responding
11 tumors are less disfiguring or associated with
12 improvements in patient-reported outcomes such as
13 pain or ability to conduct activities of daily
14 living.

15 As that type of data was not collected on
16 EZH-202, FDA evaluated baseline tumor size as a
17 potential proxy, with the idea that reduction in
18 size of an exceptionally large tumor could provide
19 some support for direct clinical benefit, however,
20 84 percent of the non-nodal target lesions were
21 5 centimeters or smaller in the longest diameter.
22 Although the FDA acknowledges that not all of a

1 patient's tumor burden is accounted for by these
2 measurements, unfortunately, the available data is
3 insufficient to conclude that tazemetostat confers
4 direct clinical benefit based on reduction of tumor
5 size.

6 The last few years have given oncologists
7 extensive experience with targeted therapies for
8 cancer. Effective targeted therapies typically
9 produce high response rates, demonstrating that the
10 drug hits a target relevant for cancer cell
11 survival. The applicant has described a hypothesis
12 as to how tazemetostat may act in tumors with INI-1
13 loss that I would now broadly sketch.

14 EZH2 catalyzes histone H3, generally
15 down-regulating transcription. INI-1 loss leads to
16 abnormal activity or expression of INI-1 and a
17 subsequent oncogenic dependence on EZH2.

18 Tazemetostat inhibits EZH2, restoring
19 transcriptional homeostasis. This is a fairly
20 complex and indirect hypothesis.

21 The low response rate to tazemetostat in
22 patients with INI-1 negative epithelioid sarcoma

1 could be because the target EZH-202 is not as
2 relevant as had been thought to the disease
3 biology, or it could be that the target is relevant
4 but that inhibiting it in epithelioid sarcoma leads
5 to effects that inhibit tumor cell growth rather
6 than tumor cell death. This latter effect, which
7 might be expected to yield durable, stable disease
8 can only be assessed in a randomized-controlled
9 trial.

10 The applicant recently released data at the
11 American Society of Hematology meeting last week,
12 showing that 69 percent of patients with follicular
13 lymphoma, harboring a gain of function EZH
14 mutation, responded to tazemetostat. The fact that
15 this is 35 percent the response rate observed in
16 patients without an EZH mutation suggests the
17 relevance of the target to the biology of that
18 particular cancer.

19 We do not have this type of confirmation for
20 epithelioid sarcoma, as epithelioid sarcoma with
21 retained INI-1 is exceedingly rare. However, we
22 can say that the fact that 35 percent of the

1 patients with follicular lymphoma harboring
2 wild-type EZH2 also responded to tazemetostat
3 suggests that tazemetostat may have a more complex
4 mechanism of action than is currently understood.

5 FDA based the primary evaluation for the
6 safety of tazemetostat on data from Cohort 5. All
7 patients in Cohort 5 experienced at least one
8 treatment-emergent adverse event, with the most
9 common being pain, fatigue, and gastrointestinal
10 toxicities. Forty-eight percent of patients
11 experienced at least one grade 3 or 4 adverse
12 event, as listed here, and serious adverse events
13 occurred in 37 percent of patients.

14 As Dr. Ward pointed out earlier this
15 morning, it is important to remember that these
16 adverse events are not all necessarily attributed
17 to tazemetostat. On a single-arm trial, it is not
18 possible to determine whether individual adverse
19 events are present at a higher frequency in
20 patients who receive tazemetostat than in those who
21 do not, and thus establish a causal relationship.

22 Thirty-four percent of patients require dose

1 interruption for toxicity with hemorrhage and
2 increased transaminases being the most common
3 cause. One patient each experienced a dose
4 reduction or discontinuation for toxicity. There
5 were no deaths attributed to tazemetostat.

6 An identified risk, based on both
7 nonclinical and clinical data, is the development
8 of a secondary malignancy. Of the 686 adult
9 patients with a solid tumor or hematologic
10 malignancy who received tazemetostat at a dose of
11 800 milligrams twice daily, 5 patients developed
12 AML or MDS. Across the entire development program
13 for tazemetostat, which includes 822 adult and
14 pediatric patients exposed to a range of
15 tazemetostat doses, 6 patients developed a
16 secondary malignancy. The incidence of secondary
17 malignancy in patients exposed to tazemetostat is,
18 thus, approximately 0.7 percent based on this data.

19 Secondary malignancies were diagnosed from
20 14 months to 4 years, from the times the patients
21 started taking tazemetostat with a median time to
22 onset of 27 months. Five of the patients had

1 received prior chemotherapies, which included drugs
2 known to cause secondary malignancies. While none
3 of the patients who developed secondary
4 malignancies had a primary diagnosis of epithelioid
5 sarcoma, FDA considers the risk to be applicable to
6 all patients exposed to tazemetostat.

7 The exact mechanism by which tazemetostat
8 can lead to secondary malignancies is unclear but
9 appears to be linked to EZH2. EZH2 is expressed in
10 a wide range of T-cell malignancies. EZH2 loss of
11 function mutations have been identified in patients
12 with hematologic malignancies, suggesting that the
13 development of secondary malignancies may be an
14 on-target effect of tazemetostat. In the
15 nonclinical toxicology studies performed by the
16 applicant, T-cell lymphoma with concurrent leukemia
17 led to multiple early deaths in both adult and
18 juvenile animals.

19 With limited clinical experience and lack of
20 comparative data, FDA is concerned that activity
21 observed in Cohorts 5 and 6 of Study EZH-202 may
22 not be sufficient to establish the benefit of

1 tazemetostat in patients with epithelioid sarcoma.
2 A key uncertainty regarding the application is
3 whether the low response rate observed on EZH-202
4 will translate into a positive impact on survival
5 or meaningful improvement in progression-free
6 survival. While Epizyme has requested accelerated
7 approval and is planning a confirmatory study of
8 tazemetostat compared to doxorubicin alone in
9 patients with the epithelioid sarcoma, enrollment
10 into this trial has not yet begun.

11 Patients with epithelioid sarcoma make up a
12 rare subset of patients with soft tissue sarcoma.
13 Existing therapies are unsatisfactory, and we agree
14 that effective drugs are needed for this patient
15 population. Although a handful of patients on
16 Study EZH-202 experienced quite durable responses,
17 a point estimate of response rate of 11 to 15
18 percent means that it's possible that only a few of
19 the patients with epithelioid sarcoma who take
20 tazemetostat will see any kind of benefit from the
21 drug.

22 Although tazemetostat has a different

1 mechanism of action compared to other drugs used
2 for treating epithelioid sarcoma, inhibition of
3 EZH2 may not be as relevant to the INI-1 loss that
4 characterizes most epithelioid sarcoma tumors as
5 has been postulated. While the drug appears to be
6 very well tolerated, it is not without risks, which
7 must be weighed against the potential benefits.

8 Finally, we did not have a large body of
9 evidence of effectiveness of tazemetostat on
10 survival endpoints in other cancers, which has
11 sometimes been used by the FDA to support
12 supplemental approvals of drugs and new tumor types
13 on the basis of limited data.

14 The FDA asked the ODAC to discuss whether
15 the evidence from Cohorts 5 and 6 of Study EZH-202
16 is sufficient to establish the benefit of
17 tazemetostat in patients with epithelioid sarcoma.
18 After this discussion, you will be asked to vote on
19 whether the demonstrated benefit of tazemetostat
20 outweighs the risk of the drug in the proposed
21 indication. I thank you for your time. This
22 concludes my comments.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Clarifying Questions to Presenters

DR. HOFFMAN: We'll now take clarifying questions for the presenters. Please remember to state your name for the record before you speak, and if you can, please direct your questions to a specific reviewer.

DR. HOTAKI: Just as a reminder, if you have a question, try to get my attention or Dr. Hoffman's attention. We'll be making a running list. If you have a follow-on to a theme and want to continue, put your card like this so we can note that it's part of a theme to move on. Thank you.

DR. HOFFMAN: I have a question for, I think, probably Dr. Agarwal or Dr. Demetri. With regard to tumor pain as an adverse event, obviously, I'm sure many of these patients have pain related to their cancers. Was there any correlation with response and having tumor pain? Was tumor pain a good sign, if you will?

DR. AGARWAL: I invite Dr. George Demetri.

DR. DEMETRI: George Demetri, Dana-Farber. If anything, our patients who were taking this

1 reported less tumor pain when they were on that
2 period of time that was very durable for them. So
3 we did not see any induction of pain, per se, by
4 that. These patients had some exacerbations on and
5 off, as we see with this disease. There did not
6 seem to be a direct relation in any way to the
7 study drug dosing.

8 DR. HOFFMAN: Dr. Cristofanilli?

9 DR. CRISTOFANILLI: I have two questions.
10 One is in regard to slide 28. You were talking
11 about 61 percent of patients have been exposed to
12 prior therapy, and we heard about the efficacy of
13 doxorubicin and pazopanib. Were these patients
14 exposed to doxorubicin and pazopanib? Because in
15 that case, it would be meaningful to see benefit in
16 refractory patients to standard therapy.

17 The other one is regarding slide 44, where
18 you mentioned 3 patients that had initial progress
19 and has continued the drug and stayed somewhat
20 stable. What type of therapy did they receive
21 after they progressed?

22 DR. AGARWAL: Can you pull up the slide?

1 Here are the different types of prior therapies
2 that we used in the ES population, the prior
3 therapies, a breakdown. As you can see, the mix of
4 different therapies, including doxorubicin,
5 taxanes, ifosfamide, pazopanib, and other drugs
6 that we used; so it was a mix of multiple drugs for
7 patients who were exposed to prior therapy.

8 In terms of your second question -- and
9 what's important to see in patients, and what we
10 looked at, is the objective response rate in
11 patients who were treatment naive and patients who
12 had prior systemic therapy, and we didn't see much
13 difference in terms of response rate.

14 In terms of answering your second question,
15 what therapies they took after they progressed,
16 that information we'll provide after the break.

17 DR. HOFFMAN: Dr. Sung?

18 DR. SUNG: Tony Sung from Duke; a follow-up
19 question to that. Is there a difference in the
20 response duration or the overall survival in
21 patients who received the drug first line versus
22 second line?

1 DR. AGARWAL: In looking at patients and
2 response rate for patients who were treatment naive
3 and patients who had systemic therapy, you see the
4 response rate was very similar. In terms of
5 duration of response, for the patients who were
6 treatment naive, it does not available. In terms
7 of prior systemic therapy, as you can expect, it
8 was a little lower.

9 I would like to invite Dr. Schwartz to talk
10 about the differences and the importance of the
11 clinical significance of tazemetostat in
12 epithelioid sarcoma.

13 DR. SCHWARTZ: Gary Schwartz, Columbia
14 University. We know epithelioid sarcoma is, of
15 course, a rare, highly aggressive tumor, which
16 patients have very few therapeutic options. As an
17 oncology community, we're not really convinced that
18 standard chemotherapy has really any benefit in
19 this disease. Response rates can vary in published
20 data from 0 to 20 percent in soft tissue sarcomas.

21 Frankly, in my experience, I've never seen
22 any patient that responds to standard chemotherapy,

1 whether it's doxorubicin based or pazopanib, in
2 this disease. The data that we're comparing our
3 response rates to, actually, for example,
4 doxorubicin, is based on collected tumor types, not
5 just epithelioid sarcoma 7.5 percent of
6 doxorubicin, that's the most recent randomized,
7 phase 2 study, and that was the doxorubicin control
8 arm that led eventually to a registration study.
9 That's our comparator, and the pazopanib, of
10 course, 4 percent response rate based on a
11 registration trial.

12 So looking at the historical data, as
13 oncologists, we don't feel there's a role for
14 standard chemotherapy in this disease. It's used,
15 but its effectiveness is quite limited. When we
16 see a patient with this type of cancer, we're
17 looking for new therapies, clinical trials, or
18 studies that we think have meaningful clinical
19 benefit. In my experience, tazemetostat really
20 achieves that goal in terms of response rate and
21 stabilization of disease, which you don't
22 ordinarily see with standard chemotherapies in the

1 treatment of this cancer.

2 DR. HOFFMAN: Dr. Klepin, you have a
3 follow-up?

4 DR. KLEPIN: Yes. Heidi Klepin. I had a
5 follow-up question to the conversation around
6 symptoms and pain specifically. We didn't see any
7 data on patient-reported outcomes or quality of
8 life. Was anything collected on this trial that
9 would be useful in that regard?

10 DR. AGARWAL: Unfortunately, we didn't
11 collect the quality of life in this study, however,
12 if you look at the safety profile of the drug in
13 terms of -- we believe that tazemetostat is
14 generally well tolerated. We had very low
15 discontinuation rates and reductions. I would like
16 to invite Dr. Demetri, who used this drug with
17 patients, who would give you anecdotal improvement
18 and about quality of life.

19 DR. DEMETRI: George Demetri, Dana-Farber.
20 I do think the indirect answer to that -- we did
21 not collect that. There were no quality-of-life
22 forms that were given to patients. The indirect

1 measure was that when patients progressed, let's
2 say, with a new lesion, an oligoclonal new lesion,
3 we saw several patients whose primary target
4 lesions, metastatic target lesions, were shrinking,
5 and they were feeling better; back to the pain
6 issue. Did we capture that? No. But did I see
7 that? Yes.

8 I think the important thing is that's part
9 of what we then say with this presentation of
10 continuation of this treatment after RECIST defined
11 progression, Because RECIST allows us to
12 characterize those patients as progressing. Even
13 if it's oligoclonal, even if it's one simple
14 asymptomatic lesion, that patient gets kicked into
15 the progression bin. But then we had the ability
16 to talk to our patients and say -- several of the
17 patients at Dana-Farber had had all available prior
18 therapies and then some, so adriamycin, ifosfamide,
19 gemcitabine, and a few other things as well, and
20 they go on this.

21 We'd say, "Well, we could go back to
22 something you've already had, or we could go to

1 palliative care, or we could continue this." And
2 they said, "Look, this is doing fine by me. I'm
3 tolerating it well. I actually felt better when I
4 started it. That lesion you found, I don't feel
5 it."

6 So that's the peculiarity of RECIST because
7 you can be put into a progressive bin with a small
8 lesion that's a new lesion, and I understand that.
9 But this was what we were trying to express with
10 the data as presented. So it's an indirect measure
11 of some benefit. The patients were part of that
12 shared decision making with us as well.

13 DR. HOFFMAN: Dr. Hawkins?

14 DR. HAWKINS: Just for clarification,
15 probably from the FDA, the statement that we don't
16 have studies that could compare prior treatment for
17 epithelioid sarcoma and tazemetostat. The question
18 is, natural history, isn't that well known enough
19 to use the single-arm study, since we can't compare
20 prior studies using chemotherapy to this new drug?

21 DR. WARD: This is Ashley Ward, FDA. I
22 think what you're asking is do we have sufficient

1 information from published studies in epithelioid
2 sarcoma to be able to use this study to compare to
3 published studies?

4 DR. HAWKINS: I think the answer was no to
5 that already. So my question is, is the natural
6 history of this condition well known enough to use
7 a single-arm study without a placebo because we
8 can't really compare prior studies to this?

9 DR. WARD: Yes, I think that's a difficult
10 question to answer.

11 DR. LEMERY: I think even if it's known, the
12 question is whether the cohort of patients enrolled
13 by Epizyme is going to match those patients. And
14 it's such a small number of patients, I think it
15 would be difficult to conclude, one way or the
16 other, regarding any effects on survival, stable
17 disease, and some of these other endpoints. Those
18 would, I think, clearly need a randomized study to
19 really understand the effects of the drug on those
20 endpoints.

21 DR. PAZDUR: We have used single-arm studies
22 for many approvals, and we look at response rates.

1 And the reason why we look at response rates is
2 because that response is due to the drug alone; it
3 is not due to the natural history of the disease.

4 As Steve pointed out, we don't look at other
5 endpoints such as progression-free survival,
6 overall survival, time-to-event endpoints. Those
7 do require a comparison. But response rates are a
8 special endpoint in oncology. So given the
9 information that we have here, we have to have a
10 discussion whether this observed response rate is
11 of potential benefit to patients.

12 DR. HAWKINS: Thank you.

13 Just a query for the applicant's plans
14 to -- how long do you think it will take for the
15 enrollment with your planned study of tazemetostat
16 and doxorubicin in the future? Again, the
17 enrollment hasn't started yet, we're told. How
18 long do you speculate it will take for this study?

19 DR. AGARWAL: So the phase 2 study, we have
20 already the site open, and we basically are
21 initiating the study. As I was mentioning, it's a
22 rare tumor. It's about 125 cases a year. It's

1 difficult to enroll the study. We are initiating
2 and we are very committed. I think in terms of
3 enrollment, what we're projecting is about six and
4 a half years for enrollment, and then follow-up of
5 about a year.

6 Basically, we are opening all the sites and
7 putting U.S. and ex-U.S., and have almost 130 sites
8 for study and are committed to study that and
9 provide information. But it's a rare tumor. It's
10 very difficult to enroll this tumor because,
11 altogether, with 2 cohorts, we have about 106
12 patients.

13 DR. PAZDUR: You don't have the dose, the
14 combination yet; do you?

15 DR. AGARWAL: Thank you, Dr. Pazdur. So we
16 actually are starting that study. We have a
17 patient in screening, and we'll be enrolling, and
18 we'll possibly have those within a few months.

19 DR. PAZDUR: So at the present time, we do
20 not have the dose of this.

21 DR. AGARWAL: No.

22 DR. PAZDUR: Could I follow up on this --

1 DR. HOFFMAN: Yes.

2 DR. PAZDUR: -- if I may? Could you tell us
3 a little bit about the study? I think it's
4 important for us to have a discussion of this study
5 also, and that is in regards to the effect size
6 that you're planning on demonstrating, because
7 obviously you have planned 130 patients in a
8 randomized study, which is a relatively small
9 study; let's be quite honest with you. And usually
10 small studies look at, unrealistic many times,
11 effect size.

12 So the effect size that you're postulating
13 in this study is what, and how was that determined?

14 DR. AGARWAL: We're basically using an
15 effect size with a control arm of 8 months of PFS
16 benefit in tazemetostat, about 15 months with 81
17 PFS events, with an 80 percent power, based on the
18 olara trial and the recent data on front line.
19 That study is proposed in a front-line setting.
20 That's the proposal we discussed with FDA.

21 DR. PAZDUR: So the difference that you're
22 looking at is what?

1 DR. AGARWAL: It's from 15 to 8 months; from
2 8 months to 15.

3 DR. PAZDUR: So 7 months, basically. How
4 was that derived? Because, here again, you have a
5 drug that has about less than a 15 percent response
6 rate, and to think that you're going to have a very
7 impressive effect on progression-free survival.

8 DR. AGARWAL: So we basically looked at PFS
9 as an endpoint, and based on the data that we have
10 preclinically, we believe that there may be synergy
11 in terms of combining the two drugs, doxorubicin
12 and pazopanib. We used the olara study as a
13 comparator, and the hypothesis will be able to show
14 that benefit.

15 I think in terms of looking at the data,
16 we'd be open to discussing with the agency about if
17 we have to look at the different effect size, or
18 even an endpoint, based on the post-progression
19 benefit that we are seeing in our phase 2 study and
20 possibly survival as an endpoint.

21 DR. PAZDUR: Another question that I
22 have -- and here again, I'd like to have some

1 discussion on this protocol -- the issue is,
2 obviously, this is a frontline study, and the drug
3 would be approved in a more refractory population.
4 But in general, in oncology patients, a drug with
5 refractory disease usually has activity in an
6 earlier stage of disease, if not better activity.
7 So you'd be asking patients, basically, to go on an
8 approved FDA drug, basically, or not to go on an
9 approved FDA drug facing a life-threatening disease
10 here.

11 Do you think that this study really has
12 equipoise that patients would go on it? For
13 example, I'm thinking about a patient facing a
14 disease, a life-threatening disease here, and if
15 the FDA approved this drug, we'd be asking patients
16 to go on standard therapy versus the new drug, a
17 combination with the standard therapy. Would
18 patients actually go on this study?

19 DR. AGARWAL: Sure. I would like to invite
20 Dr. Demetri, who is one of the investigators
21 possibly for this study and who's participating.
22 He can give his view.

1 DR. DEMETRI: George Demetri, Dana-Farber.
2 I think that's an important element of what went
3 into this, the issue that you're not seeing -- and
4 I wonder if the preclinical data that Dr. Agarwal
5 referred to might be useful for people to know,
6 that the idea that there are some preclinical data
7 that support some additive, if not synergistic,
8 benefits.

9 I'll put this up here on the slide. Here we
10 see a percent of vehicle control with this ES cell
11 line, INI-1 deficient, where tazemetostat at that
12 dose, 1.3 micromolar, decreases the tumor cell
13 count. Doxorubicin similarly decreases tumor cell
14 counts, but the combination is more than additive.

15 What I'd like to emphasize is if that indeed
16 is the case, there are patients who may choose to
17 go for that extra benefit of combination therapy,
18 especially patients who are symptomatic with their
19 disease. If there are others who choose not to do
20 that, that's a discussion we have. I would
21 personally have no problem with the equipoise there
22 because some of our patients are willing to say I

1 have this life threatening disease; I want the most
2 aggressive therapy possible. Other patients will
3 say I'd like the least toxic therapy possible.

4 I also think that going ex-U.S., outside the
5 United States, will be an issue here because there
6 are many patients who are certainly suffering from
7 this disease outside of the United States, and this
8 trial will aim to find them as well and offer them
9 this. Typically, the United States has access to
10 these drugs sooner than other parts of the
11 world -- thank you for that -- and I think that
12 will be something that other parts of the world
13 will be able to participate in so that we can, as
14 we have a global sarcoma community, function in
15 that way and get this study done.

16 DR. PAZDUR: Just to follow up on that
17 statement, could you share with us any discussions
18 that you've had with other regulatory agencies
19 regarding this application, specifically the EMA
20 and what your plans are with them?

21 DR. AGARWAL: So we haven't for now had any
22 discussion with the EMA in terms of any

1 submissions. Currently, we don't have plans for
2 use submission. We will be planning to do this
3 study in Europe, in all the countries, pretty much
4 where we actually use.

5 DR. PAZDUR: Is there any reason why you
6 haven't discussed this application?

7 DR. AGARWAL: I think --

8 DR. PAZDUR: It's a huge market, obviously.
9 Most companies do pursue global registration
10 programs.

11 DR. AGARWAL: I think, Dr. Pazdur, as you
12 know, we were thinking about the DS [ph], and we
13 also have an FLND that we just are planning to file
14 as well. So being a small company, we were
15 focusing our -- and also wanted to align with FDA
16 first before we think about any opportunity.

17 DR. PAZDUR: One of the reasons I'm bringing
18 this up is having been in this chair for 20 years,
19 I've heard many companies say that they're going to
20 be doing trials. One of the problems that we've
21 had with the accelerated approval program is many
22 times these trials have not been done if they are

1 not initiated. They're supposed to be done with
2 due diligence. And here again, we don't even have
3 a dose here of the drug. We have to have that
4 under consideration.

5 One other regulatory issue that I'd like to
6 pursue with you is how many single-patient INDs
7 have you had with this drug?

8 DR. AGARWAL: We have an expanded access
9 program right now.

10 DR. PAZDUR: How many single-patient INDs?

11 DR. AGARWAL: Do you have that number? We
12 can get that after the break.

13 DR. PAZDUR: And you have submitted a
14 treatment protocol --

15 DR. AGARWAL: That's right.

16 DR. PAZDUR: -- to this application?

17 DR. AGARWAL: That's right.

18 DR. PAZDUR: If this drug is not approved,
19 do you plan on pursuing that treatment protocol
20 also?

21 DR. AGARWAL: We have the expanded access
22 program. It was in between the drug approval as

1 well as the application. It is also open for other
2 indications as well, but as you know, we want to be
3 able to get this drug to the patient as quickly as
4 possible, at the next expanded access program. I
5 would like to invite Dr. Demetri to talk about some
6 of the --

7 DR. PAZDUR: Well, could you please answer
8 my question? Would you continue the treatment
9 protocol for this disease if this drug is not
10 approved?

11 DR. AGARWAL: We are committed to provide
12 the drug to the patients.

13 DR. PAZDUR: Thank you.

14 DR. HOFFMAN: We have a series of follow-ups
15 before we get to additional questions. Dr. Cheng?

16 DR. CHENG: Actually, I was going to follow
17 up with Dr. Schwartz rather than talk about
18 Dr. Pazdur's questions about the follow-up studies.
19 I saw Dr. Schwartz's comment that the treatments
20 for patients with ES are more resistant than soft
21 tissue sarcoma. I also saw that the FDA provided,
22 I think it was slide number 11, that their

1 viewpoint, it was similar. So I just wanted to
2 understand that a little bit more, if there is a
3 reconciliation.

4 DR. AGARWAL: Dr. Schwartz?

5 DR. SCHWARTZ: Gary Schwartz, Columbia
6 University. These are historical data sets of
7 small sample sizes that are very difficult to
8 interpret. You can see the large range of
9 responses seen here with rates as low as zero
10 percent and with aggressive chemotherapy as high as
11 22 percent. Again, I think looking at the total
12 data set, it's hard to know exactly where
13 epithelioid sarcoma fits into this data set.

14 I can show you a patient, actually, who I
15 think has had a profound benefit just to give you a
16 sense of what it looks like in a patient. Can I
17 have the first slide?

18 Here's a patient. Actually, it's
19 interesting case history. I know it's anecdotal,
20 but I want to share with you the resistance of this
21 patient. This is a patient with an epithelioid
22 sarcoma. Here's a big, right anterior chest wall

1 mass under the right breast. The patient, actually
2 who had failed her epirubicin ifosfamide, entered
3 the second based chemotherapy, had failed
4 ifosfamide single agent, had failed gem-docetaxel,
5 and at this point was put on gem-vinorelbine. So
6 this is a fourth-line therapy for a large tumor
7 mass, and a patient progressed at 2.5 months.

8 The patient started tazemetostat. Here's
9 the baseline study of progression. You can see
10 that mass has now dramatically increased in size;
11 then what happened was here's the target lesion on
12 this patient who's on the clinical study, and at
13 3 months has a partial response; and at 25 months,
14 that mass continues to decrease; a sustained
15 partial response; and unfortunately at 30 months,
16 they developed a new lesion right next to them, or
17 more centrally, and that was RECIST POD.

18 So this is the problem we face in this
19 disease. It's a disease we can see dominant masses
20 that respond despite failure on prior chemotherapy.
21 Also, it's a disease where you have multiple small
22 metastatic lesions that line the pleura, so it's

1 with profound pleural effusion and hemoptysis, and
2 multiple sites of soft tissue disease.

3 So this is a disease that, in our
4 experience, tends to be highly refractory prior to
5 chemotherapy in contrast to other soft tissue
6 sarcomas, which by the way are also generally
7 chemotherapy refractory. There are very few
8 sensitive patients who respond well to chemotherapy
9 in this disease, and no data in any randomized
10 study that patients have a prolonged survival
11 benefit beyond doxorubicin alone, despite multiple
12 attempts to show and recent negative data from
13 studies showing no benefit beyond dox.

14 So this is a disease that we cannot assume
15 that chemotherapy has much effect as even in
16 non-epithelioid sarcomas, let alone epithelioid
17 sarcomas, which in our experience I think is one of
18 the most chemotherapy-resistant resistant tumors.
19 But I want to show this example of a patient,
20 multiple lines; fail, fail, fail; comes on trial;
21 and I think you can't deny that's a rather
22 significant radiological and clinical benefit to

1 the patient at chest wall pain.

2 DR. HOFFMAN: Dr. Uldrick?

3 DR. ULDRICK: Yes. I have a follow-up
4 question regarding the study design for the
5 randomized-controlled study. One of the hard parts
6 about understanding this disease is we really don't
7 know the natural history of the disease, including
8 the natural history of response to doxorubicin. I
9 was just wondering if you could comment on the
10 control arm as to why you had chosen doxorubicin as
11 a control arm, and why there's not an arm looking
12 just at tazemetostat if you believe that's superior
13 to doxorubicin.

14 DR. AGARWAL: The rationale we used,
15 combined with doxorubicin, is based on the
16 preclinical mouse models and the synergy of the
17 data that we saw. I would like to invite
18 Dr. Penebre to talk about this data and what
19 synergy we observed.

20 DR. PENEBRE: Elayne Penebre, preclinical
21 research at Epizyme, studying the biology of EZH2
22 for over five years. I'd like to start by showing

1 the preclinical data that Epizyme performed in an
2 INI-1 deficient epithelioid sarcoma cell line
3 treated with tazemetostat alone, as you can see on
4 the left, doxorubicin alone in the middle, and the
5 two agents combined.

6 The Y-axis represents percent of control in
7 cell counts, and what you can see are the two
8 agents exhibiting antiproliferative effects alone.
9 However, when the two agents are combined on the
10 right, you can see synergy observed, and this
11 supports using doxorubicin and tazemetostat as
12 support for our phase 3 confirmatory trial.

13 DR. ULDRICK: I guess my question was why
14 you chose doxorubicin as the control arm.

15 DR. AGARWAL: Yes. In the frontline
16 setting, I would like to invite Dr. Demetri about
17 the frontline setting and use of chemotherapy.

18 DR. DEMETRI: George Demetri, Dana-Farber.
19 The world standard front line, right or wrong, is
20 doxorubicin, so I think to have a patient with
21 metastatic, life-threatening sarcoma not receive
22 the standard frontline therapy would have been

1 unacceptable to patients and physicians.

2 We've heard a lot about these papers. I
3 hate to do this, but I will say this. The
4 RECIST -- if you could pull up those papers, Frezza
5 and all that, the retrospective literature, I want
6 to emphasize one problem that I'm shocked that no
7 reviewer has actually pointed out in these papers.
8 You see, it was said to be by RECIST. Let me just
9 pass on those.

10 We shared the same papers, the ones on the
11 bottom here, these four papers. Jones and Frezza,
12 particularly in methodology, say RECIST defined
13 progression. If you really look at that, those
14 were retrospective case series without independent
15 central radiology reviews. When I've done that
16 sort of thing, you hire an independent person, have
17 them pick target lesions at baseline, and then
18 prospectively go forward in the series.

19 In this, investigators retrospectively were
20 asked to assess by RECIST. So retrospective review
21 of RECIST, I want to just insert a little question
22 about the quality of the evidence we're dealing

1 with. The fact is, as you've heard, our experience
2 doesn't necessarily match up with this. We're
3 quibbling at the edge because 20 percent response
4 rate is quite low, but I would even question the 20
5 percent response rate because these retrospective
6 reviews do not involve prospective RECIST-defined
7 measurements that are applied in properly conducted
8 clinical trials such as this one. So I just wanted
9 to point that out.

10 So your point about using doxorubicin rather
11 than anything else is simply the standard worldwide
12 that everybody could agree on in a worldwide study.

13 DR. HOFFMAN: Dr. Cheng?

14 DR. CHENG: Thank you, Dr. Demetri. Can I
15 just ask a follow-up if I may? Can you comment on
16 the duration of response as to what is expected
17 with standard of care as well? I saw that
18 pazopanib actually had a response duration of I
19 think 9 months, while that table showed less than
20 2 months; and yours is 16.4, although I understand
21 the FDA's concern about small numbers; but just
22 comment on what the expected duration of response

1 is to standard.

2 DR. AGARWAL: Can you open the duration of
3 response curves, please?

4 DR. DEMETRI: George Demetri, Dana-Farber.

5 DR. AGARWAL: No, the one with the
6 historical slides.

7 DR. DEMETRI: I think this is part of why
8 our community feels strongly about the drug,
9 because these exceptional responders are real. The
10 people who have stability of disease, the ones who
11 have gotten those sorts of responders, that patient
12 that Dr. Schwartz showed who had 30 months of
13 disease shrinkage and continued duration of
14 response for 30 months, I've never seen with an
15 epithelioid sarcoma; and that patient had prior
16 chemotherapy failure, and those prior
17 chemotherapies did not induce this kind of
18 response.

19 So when you're dealing with a rare disease,
20 if you have a sensitive subset of patients -- and I
21 will be honest with you; we don't know who that
22 sensitive subset is for chemotherapy. We don't

1 know who that sensitive subset for this drug
2 either, but clearly, it exists. And I think the
3 issue here is that if there's a long duration of
4 response with a drug that's well tolerated in a
5 subset of patients who have an otherwise uniformly
6 fatal disease in a short period of time, that's the
7 question. How can we assess that risk and benefit?

8 I think as somebody who treats these
9 patients, we have not seen that long duration of
10 disease. The fact that the patients entering this
11 study had a median time on prior therapy of
12 2.4 months; most of the reasons people come off
13 prior therapies with a life-threatening disease,
14 the therapy is not working. So I want to just
15 point that out.

16 DR. AGARWAL: I think just going back to
17 Dr. Pazdur's question, it's important to highlight
18 that for this cohort, not only did we show the
19 response rate, which may be similar as Dr. Demetri
20 was talking about, but what's important is the
21 duration of response that we just talked about, and
22 also the fact -- I understand the caveat to a

1 single-arm study -- that we did see a longer median
2 survival and a durable stable disease, which we
3 believe maybe correlating with survival, especially
4 in sarcoma.

5 Additionally, just with the epigenetic
6 mechanism, what we see is patients are benefiting
7 beyond progression, RECIST progression. As you can
8 see, there are some patients who had a decrease in
9 target lesions, and the drug is well tolerated.

10 DR. HOFFMAN: Dr. Cristofanilli, a
11 follow-up?

12 DR. CRISTOFANILLI: This is a follow-up
13 regarding the prospective randomized study. Is
14 there any concern that combining this drug with
15 doxorubicin will increase leukemia or any possible
16 bone marrow long-term effect?

17 DR. AGARWAL: In terms of the phase 3 study,
18 we believe that we didn't see any secondary
19 malignancies in the ES population, however, we will
20 be, to your point, monitoring these patients very
21 closely. Doxorubicin will be given for 6 cycles,
22 and then it will be stopped and then maintained on

1 tazemetostat. We also are placing safety
2 monitoring committees to ensure that we are
3 watching these patients very closely.

4 DR. HOFFMAN: Dr. Sung?

5 DR. SUNG: Following up on that discussion
6 as well, one question for Dr. Agarwal and one
7 question for Dr. Pazdur.

8 Dr. Agarwal, in the previous slide, you
9 highlighted the fact that on this current trial,
10 there were patients who progressed by RECIST
11 criteria but then still seemed to derive benefit.
12 In addition, you highlighted a benefit of overall
13 survival. Why are you powering the study to PFS as
14 opposed to overall survival?

15 DR. AGARWAL: So in terms of the study
16 design, I think this is a benefit that we've seen
17 post-progression, and we believe that it's really
18 real and it's clinically meaningful. We will be
19 open to talking to the agency to change the
20 endpoint to survival because we believe that may be
21 a better surrogate of what we're observing in our
22 phase 2 study.

1 DR. SUNG: Then my question for Dr. Pazdur
2 is, you mentioned sometimes when drugs are
3 approved, subsequent studies do not enroll rapidly
4 or are not completed. Are there mechanisms by
5 which the FDA can monitor the real-world usage of
6 drugs that go through accelerated approval to
7 gather more data, to ensure that the results of
8 small trials are carried out?

9 I want to highlight, for example, the
10 pazopanib data. In one study, there was a 27
11 percent response rate; in another study, there was
12 a 0 percent response rate. I think with such small
13 numbers, it's important to capture that real-world
14 experience.

15 DR. PAZDUR: Well, let me just address the
16 survival issue. This is a rare disease, and to do
17 a survival study would require large numbers of
18 patients, potentially. I think that that would be
19 a very difficult endpoint to establish in this
20 disease, although it's a preferential endpoint; but
21 here again, you would even need more numbers. One
22 of the things that is causing me some heartburn

1 here is the issue that this trial will take
2 7-8 years, projected even at this time, to be done,
3 so to speak. So to look at a survival study, it
4 might be unrealistic to do, just to put that
5 elephant to rest, so to speak.

6 The issue about real-world data, that's an
7 area of emerging data, emerging science. We could
8 take a look at this. We know and we have ways of
9 looking at drug utilization, that's for sure, but
10 to get actual endpoints is something I would term
11 as an evolving area for the agency to look at.
12 Here again, one would have to take a look at how
13 well-controlled response rates were measured in a
14 real-world situation and how one could accurately
15 capture survival in some of these data banks,
16 et cetera.

17 So this is not something that I would
18 preclude, however, on the other hand, I would not
19 promise that this would provide us that
20 information.

21 DR. HOFFMAN: Dr. Klepin?

22 DR. KLEPIN: Yes. This is also a follow-up

1 on the conversation around how potential approval
2 of the drug and the indication here might affect
3 whether or not the subsequent phase 3 trial can be
4 conducted, which I think is a relevant
5 conversation. The question is actually very basic
6 for the applicant. It's around the specific context
7 of the indication that you're seeking.

8 So are you seeking approval in all lines
9 of therapy; so first-line approval in this
10 indication? Most of the patients that were in the
11 study cohorts presented have received prior lines
12 of therapy, so it seems as though they were second
13 line and beyond. That approval line could
14 certainly be a factor in influencing whether or not
15 a subsequent phase 3 trial in a first line could be
16 conducted most efficiently.

17 DR. AGARWAL: Yes. Since the study included
18 both treatment naive locally and patients who are
19 pretreated with systemic therapy, the indication is
20 for all patients. In terms of frontline therapy,
21 the indication right now is for all patient
22 populations because we saw benefit in both the

1 populations in the phase 2 cohort, but I understand
2 your point in terms of the phase 3 study.

3 I think the important thing that I do want
4 to point out for the phase 3 study, I understand
5 Dr. Pazdur's comment completely, but I do want to
6 say that the company is very committed to start the
7 trial. Of course the challenge is the indication
8 and the small patient numbers. But we basically
9 are planning, and we have already initiated the
10 sites, and we are very committed to complete the
11 study as a company.

12 DR. HOFFMAN: Dr. Lemery?

13 DR. LEMERY: I just want to comment on some
14 of the previous things that have been said I think
15 on the sponsor side. As someone who was diagnosed
16 with cancer myself about a year ago, to see some of
17 these claims about improved survival, 19 months of
18 survival, and longer duration of response, based on
19 some of the single-arm data, we have small numbers
20 of patients. Even in the sponsor's own data for
21 survival, looking at comparison to a natural
22 history study in the second line setting, survival

1 is about the same in those patients. So any
2 improvement in survival would be in the first line,
3 based on a very, very small number of patients with
4 their drug.

5 I think reasonable people can have a
6 discussion about an effect on response and whether
7 that's important to patients, and I think that's
8 important. But I think as someone who's gone
9 through this myself, to see some of these claims
10 being bandied about on single-arm data, really, I
11 know strike up a chord in me, and it frustrates me
12 as a patient.

13 I think we do need to have these discussions
14 about the effects observed with the drug, but I
15 think we need to be honest about what the effects
16 are and what they're not because, ultimately, this
17 is a public presentation, patients are hearing
18 this, and they need to know what the true effects
19 are likely to be if they receive a drug, both good
20 and bad.

21 DR. HOFFMAN: Dr. Halabi?

22 DR. HALABI: Thank you. I had a lot of

1 similar questions that were answered, but I would
2 like to go back to the prior systemic therapy and
3 among those 38 patients who received at least one,
4 if you can display the prior therapies, systemic
5 therapies, that the patients received, if you can
6 display that slide?

7 DR. AGARWAL: Display the slide with the
8 kind of prior systemic therapy.

9 DR. HALABI: Because I find it quite
10 remarkable that 4 out of the 5 patients who had
11 developed malignancies received doxorubicin. I
12 know this is the standard of therapy, so this is
13 why I was also questioning the combination of the
14 drug with doxorubicin in your phase 3 trial. I
15 think you're showing the data again, and 26 out of
16 the 62 patients had doxorubicin.

17 DR. AGARWAL: Just to clarify in terms of
18 secondary malignancies, we saw that in lymphoma; we
19 didn't see anything in the primary ES population.
20 I want to provide Dr. Zeidan, who actually reviewed
21 these cases himself, to provide a view of our
22 secondary malignancies.

1 DR. ZEIDAN: Thank you. Amer Zeidan,
2 associate professor of medicine at Yale University.
3 I specialize in the management of myeloid
4 malignancies, and I have actually a special
5 interest in therapy-related AML and MDS. I have
6 reviewed the 5 cases that were shown for myeloid
7 malignancies, as you can see here, and I think you
8 pointed out a very good confounding problem that
9 often happens in the assessment of secondary
10 malignancies that we often see, is that all of
11 those 5 patients have received either chemotherapy
12 radiation or both.

13 As you can see, 4 of them were within the
14 lymphoma cohort, none of them were within the last
15 cohort. The 5 patients who are males are older
16 than 55, which are demographic segments that are
17 enriched for the development of myeloid neoplasms,
18 and some of the patients did have some dysplasia or
19 blood count abnormalities that suggest some
20 ongoing, potentially, bone marrow issue at the time
21 of entry into the study. Because of all of these
22 reasons, I think it's difficult to ascertain,

1 basically, the relationship to myeloid malignancies
2 in this cohort.

3 I would go to other points I think important
4 to consider. One, each time we think about
5 secondary myeloid malignancies, you have to think
6 about their risk. We are looking at less than
7 1 percent, or 1 percent, and that's very similar to
8 what you see, for example, within the lymphoma
9 cohort in heavily treated patients with lymphomas
10 who receive multiple lines of treatments and also
11 with patients who got doxorubicin.

12 I think the third point to consider is
13 always the context of therapy. I think the issue
14 of secondary malignancies, in my view, is much more
15 relevant for patients who are being treated with
16 curative, intent type of therapies, where the
17 patients are expected to live many years.
18 Unfortunately, in malignancies where the survival
19 is in the order of a year or less than a year and
20 those myeloid malignancies can take some time to
21 go, the unfortunate reality is the vast majority of
22 patients die from their underlying disease rather

1 than secondary malignancies, so I think
2 understanding the context is very important.

3 However, I think you bring up a good point,
4 and I think the company's planning to initiate
5 monitoring programs for the development of myeloid
6 malignancies that are going to be in the drug
7 label. I think there should be a very robust
8 postmarketing surveillance type of approach if the
9 drug is approved. Thank you.

10 DR. HALABI: Thank you. The next question
11 is I know there were only 8 patients who progressed
12 in the trial, but I haven't seen a PFS curve. Can
13 the sponsor show us the Kaplan-Meier curve by PFS
14 since this is going to be the basis for your
15 phase 3 trial, the 301 trial?

16 DR. AGARWAL: Here is the PFS curve, the
17 progression-free survival Kaplan-Meier curve that
18 we saw in the ES population. Just to remind, these
19 patients are the metastatic patient population,
20 mainly a very sick population that we enrolled. I
21 would actually like to invite Dr. Demetri to talk
22 about the context of PFS and what was observed with

1 pazopanib to provide this context.

2 DR. DEMETRI: George Demetri, Dana-Farber,
3 again. The PFS data are as they are here. What is
4 particularly notable is potentially the tail here;
5 again, the idea that there's a subset of some
6 patients who have prolonged stable, non-progressive
7 disease. I understand all the complexities we've
8 raised today, and I appreciate that.

9 Remember, in the confirmatory study, PFS is
10 in the context of a doxorubicin addition, so the
11 question of the combination results and what could
12 be expected with doxorubicin. The one nice thing
13 about the confirmatory study is that there have
14 been several large international studies now that
15 have a control arm with doxorubicin, so we have
16 very accurate estimates about what could be
17 expected with a sarcoma population in the control
18 arm, not with an epithelioid sarcoma population per
19 se. That's about the best we can do with that.

20 DR. HALABI: I have one final question.
21 Also, as a statistician, I'm struggling to
22 understand how some patients have progressed and

1 then they had experienced PR. Can the sponsor
2 comment on that?

3 DR. AGARWAL: Yes. Basically, in the
4 protocol, we had allowed patients to continue
5 beyond progression if the investigator believed
6 that there was clinical benefit in consultation
7 with the patient; so if they had RECIST
8 progression, they would continue on therapy.

9 Here are the 17 patients in Cohort 5. As
10 you can see, these patients and physicians, if they
11 had a new lesion or small lesion, they actually
12 continued on therapy post-progression because they
13 believed that there was benefit. This is
14 post-RECIST progression, so they continued on
15 therapy. Some of the patients actually continued
16 for a long time and had a clinical benefit.

17 I would like to invite Dr. Demetri because
18 he actually had a couple of patients who went
19 beyond progression, in this patient, and the
20 benefit he observed in that patient population.

21 DR. DEMETRI: George Demetri. I actually
22 would like to put this slide up, which are all of

1 those patients, to show the investigators who did
2 this continuation of therapy after RECIST defined
3 progression, what were their reasons. In our
4 patients at Dana-Farber, it was a patient whose
5 primary lesions that were the target lesions were
6 shrinking -- something else was not viewed as
7 clinically significant -- the issues of
8 tolerability, slower disease progression, like
9 patient 8, and the patient was asymptomatic and
10 feeling well on the treatment. So there are
11 several reasons that physicians and patients
12 together decided to continue.

13 Let me also clarify one thing. The sponsor
14 is not trying to claim partial response. Once
15 you're progressed, you're progressed. They were
16 trying to point out that the target lesions shrank
17 to the point of what would have been a partial
18 response had a new lesion not showed up. So I
19 think that's important, that we're dealing with the
20 complexities of RECIST's prospective definition and
21 picking target lesions.

22 Let me also emphasize this. The target

1 lesions that are measured are a subset of the total
2 body burden of disease. That's another important
3 element as we think about the clinical use of
4 RECIST. It's good, it's probably the best we've
5 got, but it's this peculiarity of that to help
6 understand this.

7 DR. AGARWAL: I do want to invite
8 Dr. Schwartz on providing his feedback about the
9 duration of response in this ES population; what
10 you saw with tazemetostat and why it is important
11 in this aggressive tumor type and unmet need.

12 DR. SCHWARTZ: Gary Schwartz, Columbia
13 University. Yes, we did see patients with clinical
14 benefit on the trial with prolonged disease
15 stabilization. This is the overall mean duration
16 of response in this patient population, and you can
17 see the median duration response is 69.7 weeks,
18 which we would think is being a clinically
19 significant outcome in this patient population.

20 DR. HOFFMAN: Dr. Hinrichs?

21 DR. HINRICHs: I wanted to go back to the
22 primary data set a little and ask about stable

1 disease. Of course, the applicant is using stable
2 disease as a metric for the activity of the drug,
3 and it's, of course, a highly problematic metric.
4 The FDA doesn't accept it as a metric, and for good
5 reason.

6 Having said that, RECIST, which of course
7 defines the stable disease category, which we've
8 just discussed as probably our best metric, is also
9 a highly limited metric. The more I try to deal
10 with it, and the more I see clinically and how it
11 ends up being matched up and measured by RECIST,
12 the more I realize how limited that tool is.

13 The question that I have for the applicant
14 is, when we're looking at stable disease, one way
15 to get a sense of whether that represents a change
16 in the disease course would be how much the
17 patients were progressing before they were treated,
18 and Dr. Schwartz made the comment, I believe, that
19 the majority of the patients were progressing at
20 the time that they were treated.

21 Can you give us more detail about how many
22 patients were progressing and how quickly they

1 progressed? Also, knowing that this would be a
2 problematic metric, do you have raw data that plots
3 the rate of the patient's progression prior to
4 starting on the drug?

5 DR. AGARWAL: In terms of the patient
6 population, as you can see, the majority of these
7 patients were stage 3-4; 95 percent of the patients
8 had progressive disease prior to study entry; and
9 the median time from progression, from the last
10 therapy, was about 1.4 months. Importantly, we
11 also looked at the duration of treatment on prior
12 therapy just before they came on our study, and
13 that was 2.4 months median, so it was a heavily
14 pretreated population.

15 I do want to invite Dr. Schwartz to talk
16 about this progressive disease and how they are
17 different from a locally advanced patient
18 population in terms of the course of the disease,
19 and looking at this patient population.

20 DR. HINRICHS: Before you move on to that,
21 can I just follow up on what you just said? The
22 progressive disease prior to entry in the study, I

1 see that was 95 percent. How is progressive
2 disease defined?

3 DR. AGARWAL: Yes. In the study, basically,
4 it was either RECIST or clinical progression, and
5 that was entered in the study the date of
6 progression for the last therapy.

7 DR. HINRICHS: When you say clinical
8 progression, how is that defined?

9 DR. AGARWAL: It was at the investigator's
10 discretion.

11 DR. HOFFMAN: Dr. Ward?

12 DR. WARD: Ashley Ward, FDA. I just wanted
13 to follow up to Dr. Hinrichs' question about stable
14 disease. Could you go to FDA's slide 29? We
15 recognize that RECIST does have some limitations,
16 but there are quite a few reasons why the FDA does
17 not consider stable disease and efficacy
18 assessments. As we mentioned previously, primarily
19 this is because while the response could be
20 attributed directly to a treatment, stable disease
21 can occur with and without treatment. The
22 percentage of patients who experience stable

1 disease at any given time point depends on the
2 natural history of the disease.

3 Could you go to slide 30? Just as an
4 example, here's a randomized study of pazopanib
5 versus placebo. If you look on the placebo arm, 38
6 percent of those patients had a best response of
7 stable disease. Placebo doesn't have any activity
8 in the tumor. So this is just an example of why
9 the FDA does not consider stable disease to be
10 relevant in a single-arm study. Thank you.

11 DR. AGARWAL: Can I invite Dr. Schwartz to
12 provide some insight in epithelioid sarcoma and
13 stable disease?

14 DR. SCHWARTZ: Yes, I get the point of the
15 FDA, but this is sarcoma, and this is epithelioid
16 sarcoma, and this is a bad, bad disease. I cannot
17 reinforce the point, as a sarcoma specialist, that
18 we do not see stable disease in this population.
19 Everybody on the study had progression of their
20 disease. We start treatment, we have responses,
21 and then we have stabilization of disease. I don't
22 know how to attribute that to the natural history

1 of the cancer. I only see the patient starts the
2 drug, progressing disease, and now the disease
3 stops to grow.

4 This is a bad cancer. We have to separate
5 this from other types of sarcoma. I think that's
6 one of the things we have to address. This is not
7 your typical sarcoma. This is epithelioid sarcoma,
8 125 patients a year, people dying every single day
9 from progressive disease. It does start as
10 small-volume disease, absolutely, but by the time
11 it comes to clinical trial, these patients have
12 aggressive, progressive, and rapidly progressive
13 disease.

14 So I don't know how to explain stable
15 disease by the natural history of this cancer. I
16 am sympathetic to this outcome, but I do not think
17 that conclusion applies to this patient population,
18 as a sarcoma specialist in this cancer.

19 DR. HOFFMAN: The last question before we
20 take a break --

21 DR. HINRICHS: Can I follow up on that; just
22 kind of following the line of questioning that I

1 started?

2 It's clear that it's the impression of the
3 physicians who are presenting from the applicant
4 today that they think that the stable disease we're
5 seeing is a change in the course of the disease
6 from what it was doing naturally. What I'm asking
7 for is if you have data to support that.

8 DR. AGARWAL: Can you open the spider plot,
9 for all patients, the spaghetti plot? Here, you
10 can see in the spider plot, as you can see it
11 below, this is basically a spaghetti plot
12 showing --

13 DR. HINRICHS: I'm sorry. I want to
14 interrupt you again because this is not what I'm
15 asking. What I'm asking for is what was going on
16 to the left of zero?

17 DR. AGARWAL: I see. In terms of prior
18 therapy, you mean, just to clarify?

19 DR. HINRICHS: In terms of the disease
20 progression before you supposedly altered the
21 course of the disease by administering a drug? I'm
22 asking you for that data.

1 DR. AGARWAL: I can provide that data after
2 the break. We'll provide that data after the
3 break.

4 DR. HOFFMAN: Ms. Webb?

5 MS. WEBB: Thank you. Kimberly Webb. I'm
6 the patient caregiver. I'm the mom. I had a
7 question about the pazopanib that you showed. Was
8 that epithelioid sarcoma?

9 DR. WARD: Ashley Ward, FDA. No, that was
10 all soft tissue sarcoma.

11 MS. WEBB: Okay. Then I'm going to say that
12 I'm anecdotal, but I do have, like I said, over a
13 thousand members on our page, so I'm in
14 communication with the people that are actually
15 inflicted with this horrific disease. And I'll
16 tell you that I agree with Dr. --

17 DR. AGARWAL: Schwartz --

18 MS. WEBB: -- Schwartz completely. That's
19 what we see when we're out there in the trenches,
20 that it doesn't stop. We go month to month, or
21 3 months, to our scans, praying every day that we
22 hear that you're clear or you're stable; that it

1 hasn't grown. So the stable disease is exactly
2 like what Dr. Schwartz is referring to. If it's
3 not growing and that's stable, then it really does
4 make a difference for our world. So it's
5 unfortunate that the FDA is not able to recognize
6 the stable disease part.

7 DR. AGARWAL: And I think it's important to
8 highlight as well the durability that we're
9 observing along with the survival benefit.

10 MS. WEBB: I actually have, over and over
11 and over, talking about tazemetostat from one of
12 our member's son.

13 "No side effects. Been on it for seven
14 months. Tumor's stable." Another one. "My son
15 taking it as well as part of a trial since January
16 2018 with no side effects."

17 Here's another one. "I'm on 800 milligrams,
18 twice a day, 12 hours apart. If I take it with
19 food, there's no side effects." "I have a little
20 bit of fatigue" -- here's another person -- "but
21 minimal."

22 Another one. "My son Tyler took it

1 6 months, stable scans." "I'm just trying to get
2 on this. Is there any way I can get this drug?"
3 That's from another member.

4 As far as what Dr. Lemery was saying, one
5 part about this drug is that we're able to actually
6 function, so we're seeing people that are actually
7 able to go out and do things. We're not just in
8 our death beds, right? That's got to mean
9 something, too, I would think, but that's not on
10 these slides. These are kids. A lot of the ones
11 that I said, they're 18, 19, 22, 30 years old.

12 DR. HOFFMAN: Last clarifying question from
13 Dr. Riedel, and then we'll take a break.

14 DR. RIEDEL: Hi. Rich Riedel from Duke.
15 This is less of a question and more of a comment.
16 I would just say that as a medical oncologist who
17 sees sarcoma patients that are not representing the
18 sponsor, in my opinion, I will agree with
19 everything that the medical oncologists who have
20 spoken to date have said with respect to the
21 natural history of this disease. While we may not
22 have data -- although I'm not particularly aware of

1 data that we can pull that speaks to this -- this
2 is an extraordinarily aggressive disease that does
3 not respond to standard therapy. Thank you.

4 DR. HOFFMAN: We'll now take a 13-minute
5 break. I'll remind the panel members to please
6 remember there should be no discussion of the
7 meeting topic during the break amongst yourselves
8 or with any member of the audience. We'll resume
9 at 10:30. Thank you.

10 (Whereupon, at 10:17 a.m., a recess was
11 taken.)

12 **Open Public Hearing**

13 DR. HOFFMAN: Let's reconvene, please.

14 Both the Food and Drug Administration and
15 the public believe in a transparent process for
16 information gathering and decision making. To
17 ensure such transparency at the open public hearing
18 session of the advisory committee meeting, FDA
19 believes that it is important to understand the
20 context of an individual's presentation. For this
21 reason, FDA encourages you, the open public hearing
22 speaker, at the beginning of your written or oral

1 statement to advise the committee of any financial
2 relationship that you may have with the sponsor,
3 its product, and, if known, it's direct
4 competitors.

5 For example, this financial information may
6 include the sponsor's payment of your travel,
7 lodging, or other expenses in connection with your
8 attendance at this meeting. Likewise, FDA
9 encourages you at the beginning of your statement
10 to advise the committee if you do not have any such
11 financial relationships. If you choose not to
12 address this issue of financial relationships at
13 the beginning of your statement, it will not
14 preclude you from speaking.

15 The FDA and this committee place great
16 importance in the open public hearing process. The
17 insights and comments provided can help the agency
18 and this committee in their consideration of the
19 issues before them. That said, in many instances
20 and for many topics, there will be a variety of
21 opinions. One of our goals today is for this open
22 public hearing to be conducted in a fair and open

1 way, where every participant is listened to
2 carefully and treated with dignity, courtesy, and
3 respect. Therefore, please speak only when
4 recognized by the chairperson. Thank you for your
5 cooperation.

6 Will speaker number 1 step up to the podium
7 and introduce yourself? Please state your name and
8 any organization you're representing for the
9 record.

10 MR. NELSON: Good morning. My name is Jeff
11 Nelson, and in March of 2011, at 44 years old,
12 while drying off after my morning shower, I felt a
13 strange, painless lump on my left butt cheek, that
14 would later be diagnosed as peritoneal proximal
15 variant epithelioid sarcoma. That's how my cancer
16 journey began. Eight and a half years and 2800
17 miles from home, I find myself in Maryland.

18 Even though long trips are difficult for me,
19 I think that speaking to you about my experience
20 outweighs my pain and suffering. Epizyme has paid
21 my travel expenses and enabled me to speak at this
22 meeting, and I want you all to know that I

1 100 percent believe, based on my experience, that
2 tazemetostat should be approved as a treatment for
3 epithelioid sarcoma.

4 In 2011, I had never heard of epithelioid
5 sarcoma, and neither had my doctor, my surgeon, and
6 not even the local pathology labs. It's
7 understandable when you realize that epithelioid
8 sarcoma accounts for only 1 percent of soft tissue
9 sarcomas, and the much more aggressive peritoneal
10 proximal variant accounts for less than one-third
11 of those.

12 Over the past 8 and a half years, or 8-plus
13 years, of fighting this disease, I've had
14 4 surgeries, 73 rounds of radiation therapy, and
15 approximately 28 rounds of traditional
16 chemotherapy; and by traditional chemotherapy, I
17 mean the lose your hair, every hair on your body,
18 and make you sicker than your worst nightmare sick
19 chemotherapy.

20 In 2011, following rounds 3, 4, and 5 of
21 ifosfamide, doxorubicin, or chemotherapy, I was
22 hospitalized for a total of 20 days for

1 neutropenia, and I contracted C. diff twice, and
2 that was enough. I canceled round 6 and 7 for fear
3 of not surviving the chemo. I've needed multiple
4 transfusions. I've had hand-foot syndrome, where
5 the palms of your hands and the soles of your feet
6 burn like a terrible sunburn, then peel, and then
7 repeat; a lower bowel obstruction that I wouldn't
8 wish on my worst enemy; and all of these were side
9 effects during traditional chemotherapy.

10 From the combination of surgeries,
11 radiation, and chemo, I now have permanent
12 neuropathy in both legs and lipidemia in my right
13 leg and groin, which has led to hip and back pain
14 when I walk, stand, or sit for long periods of
15 time.

16 In early 2016, I began to hear about a
17 clinical trial for epithelioid sarcoma patients
18 using a drug called tazemetostat. I searched the
19 internet and ES groups for information about
20 tazemetostat, and what I read was really exciting.
21 It was an oral medication -- no more
22 needles -- that I could take at home -- no more

1 expensive travel and hotel stays -- and with few
2 other side effects that were noted. So I spoke to
3 my oncologist, and in June of 2016, I joined the
4 trial.

5 For the next 18 months, I took
6 4 tazemetostat pills in the morning and 4 at night;
7 no needles or ports required. As far as side
8 effects, I was a little fatigued; that's it, a
9 little more tired than I think I would have been.
10 There was no hair loss, no burning and peeling
11 skin, no transfusions, no infections, no
12 neutropenia, no hospitalization, and just a little
13 bit tired.

14 For 18 months, my tumors didn't grow and no
15 new tumors developed. Best of all, I wasn't
16 immunodeficient or toxic, and I could enjoy my
17 family without worry, even if my grandchildren had
18 the sniffles. Sadly though, in February of 2018,
19 ES proved itself to be the toughest of me once
20 again, and I had a couple of new tumors show up in
21 my CT scan. So for me, tazemetostat was not the
22 cure, but for 18 months, I had a fairly normal

1 life.

2 In a world where the average life expectancy
3 of someone with metastasized epithelioid sarcoma is
4 not very long, 18 months for a normal life is a
5 miracle. Tazemetostat should be made available to
6 all sarcoma warriors as a much easier, safer, and
7 less toxic cancer treatment. With all the really
8 bad and debilitating side effects of traditional
9 chemotherapy used to treat ES, I believe
10 tazemetostat should be approved by the FDA as soon
11 as possible to get it to patients before their time
12 runs out. Thank you for your time and letting me
13 talk today.

14 DR. HOFFMAN: Thank you.

15 Will speaker number 2 please step up to the
16 podium and introduce yourself? State your name and
17 any organization you're representing for the
18 record.

19 MS. NELSON: Good morning. My name is Anita
20 Nelson. Although the company Epizyme has paid for
21 Jeff's and my travel expenses to be here today,
22 they are not paying for our time. I'm speaking on

1 their behalf. I am speaking for my husband and for
2 all those sarcoma warriors who could benefit from
3 this drug. Even though treatments like
4 chemotherapy, radiation, and immunotherapy are
5 available, they are harsh with many side effects.

6 Sarcoma cancer is -- [inaudible - mic
7 fades] -- to treat, and so much more needs to be
8 done in research, drug availability, and care.
9 Tazemetostat and other trial drugs need to be
10 available faster. This cancer is mean, it's cruel,
11 it mutates, it's resistant, and it kills.

12 In 2011, my husband Jeff was diagnosed with
13 soft tissue epithelioid sarcoma, proximal variant.
14 It started in his left buttock and spread to lymph
15 nodes and other areas in the pelvic region, many on
16 the right side with new areas of concern on the
17 left side. It has been a journey.

18 I have seen him go through so much, much of
19 it due to the treatments we've tried to fight this
20 disease: lack of appetite; neutropenia; nausea;
21 C. diff; lymphedema; transfusions; a blood clot in
22 his right leg that required an emergency flight and

1 an IVC filter placed; bowel obstruction; numbness;
2 neuropathy; ruptured lymph node; bladder issues;
3 and back pain; also IV antibiotics for more than
4 6 months due to a drain tube in his right side.

5 The disease is tough enough on its own, but
6 adding chemotherapy, immunotherapy, radiation, and
7 multiple surgery, it's even tougher. I have
8 watched my husband go from a virile pipe fitter
9 working in construction to a man that can hardly
10 walk a quarter of a mile. He is only 52. This is
11 supposed to be the best time of our lives. We have
12 grandchildren to play with, but that also can be
13 difficult and quite painful.

14 My husband was on tazemetostat in 2016 for
15 only 18 months as part of a trial. He did tablets
16 several times a day with very little to minor side
17 effects. This allowed him to focus on his health,
18 nutrition, lymphedema care, and regain valuable
19 functionability. Tazemetostat kept his tumors from
20 growing rapidly, his appetite improved, his fatigue
21 was less, and it was the closest to normal he had
22 been since starting treatment for this horrible

1 disease.

2 I felt it so very important to have this
3 drug available to others who are waiting. I know
4 of several. Time is something sarcoma patients
5 don't have. It would give these sarcoma patients a
6 break from harsh treatments and an opportunity to
7 heal. This isn't a cure, but its benefits are so
8 important on their own, especially when comparing
9 it to other available treatments. This gives us
10 hope and an opportunity for the physicians to get
11 ahead of this awful beast. Thank you for your time
12 today.

13 DR. HOFFMAN: Thank you.

14 Will speaker number 3 step up to the podium
15 and introduce yourself? State your name and any
16 organization you're representing for the record.

17 MS. GRIEGO: Good morning, members of the
18 FDA. My name is Sandra Griego, and I take the drug
19 tazemetostat for the treatment of epithelioid
20 sarcoma. I testify before you today having no
21 financial stake. Epizyme is reimbursing me for my
22 travel so I can be here today but is not

1 compensating me for my time. I should tell you
2 that this beautiful woman right here is my sister
3 Vickie [ph], and she flew here on her own to
4 support me while I testify.

5 It started in the spring of 2015. I began
6 to experience pain in my left shoulder. Several
7 doctors, multiple tests, anguish, frustration,
8 worry, and not to mention increasing pain became
9 part of my everyday life. The sarcoma is located
10 in my brachial plexus on the left side of my
11 shoulder, and at one point my left arm atrophied to
12 the extent I had to use my right arm to hold my
13 left arm. My wrists would dangle, and I couldn't
14 even lift my elbow 5 inches from my side, and the
15 pain was excruciating. The muscle mass in my
16 entire left arm was nonexistent.

17 I should mention that I am a licensed
18 daycare provider, and the disease made it more
19 difficult day by day to do my job to care for the
20 children. You don't realize the daily activities
21 that become either difficult or even impossible for
22 me with one hand, like tying my shoe, draining

1 spaghetti, and drying my hair; and for the last
2 year, my husband would curl and comb my hair and
3 clasp my bra. I had to learn to write with my
4 right hand since I am left-handed, and I could no
5 longer drive. I had to sleep on a recliner because
6 I couldn't sleep flat because of the pain. The
7 pain was so severe, there were times that my prayer
8 was just to be able to sleep.

9 This decline continued. It was about
10 14 months before I was properly diagnosed with
11 cancer, a stage 3 epithelioid sarcoma. Now that I
12 had a diagnosis, I had to make a decision. My
13 options were either a radical 4-quarter amputation
14 of my left arm or to take a chance to try an
15 experimental drug called tazemetostat.

16 Not liking the sound of having my arm
17 amputated, we took the chance. Three and a half
18 years later, although I still have sarcoma, my
19 function and my range of motion have vastly
20 improved. I still have pain and have to deal with
21 nausea that comes with taking the tazemetostat, but
22 I still have my arm. I still have my arm.

1 Please hear this. Like every other person
2 who has ever heard the word "cancer," I have to ask
3 myself why me. I now know the answer. The reason
4 cancer chose me was because my purpose was to come
5 before you today. As with many things, it wasn't
6 easy. My sister flew here to support me. My
7 husband took time off his second job so he could
8 cover for me at my daycare job, so I could be here
9 with you today, so I could share my story.

10 Tazemetostat can be another tool for doctors
11 to give hope to their patients. Please approve
12 this drug so that the doctors can tell their
13 patients it's cancer, but we have a new thing that
14 has just been approved by the FDA for you. I
15 implore you to please approve tazemetostat, and I
16 sincerely thank you.

17 DR. HOFFMAN: Thank you.

18 Will speaker number 4 please step up to the
19 podium and introduce yourself? State your name and
20 any organization you're representing for the
21 record.

22 MR. KERR: Members of the FDA's Oncological

1 Drugs Advisory Committee, Epizyme has provided
2 support for my travel, lodging, and reasonable
3 out-of-pocket expenses in connection with me
4 speaking here today. Any opinions, facts, or
5 statements expressed are based solely on my own
6 independent research and experiences, and are
7 presented as my own.

8 Thank you all for taking the time to hear my
9 input on this matter. My name is Joshua Kerr from
10 Summerville, South Carolina. I'm a 15-year active
11 and reserve veteran, I'm an engineer, I'm a son,
12 and I'm an epithelioid sarcoma survivor. I've
13 taken time away from work and home so that I may be
14 here today to express my support for the approval
15 of tazemetostat and the treatment of epithelioid
16 sarcoma.

17 My experience with this disease began in
18 2011. The first indications that something was
19 wrong were recurrent throat and respiratory
20 infections, which took weeks to resolve. In a
21 matter of months, my symptoms progressed rapidly to
22 include severe shortness of breath; nausea;

1 abdominal pain; extreme fatigue and weakness;
2 drenching night sweats; tremors; and difficulty
3 sleeping. I was told I may have and was tested for
4 HIV, multiple sclerosis, neurological disorders,
5 lupus, among other life-altering diseases. It took
6 7 years to get an accurate diagnosis.

7 "You have cancer." I thought this was
8 surely the most difficult news I would ever hear.
9 It would only worsen when almost 3 weeks later
10 while recovering from surgery, the pathology
11 results arrived. I spent countless hours
12 researching my disease. With every publication or
13 study I read, my outlook readily progressed from
14 nervousness and trepidation to hopelessness and
15 fear.

16 I learned that currently surgery is the only
17 option, and that frequently that surgery is
18 amputation. I learned that ES has an extreme
19 propensity for local recurrence and metastatic
20 progression to the lungs and the brain, although
21 that current radiation and chemotherapy treatments
22 for ES have had minimal effect on long-term

1 survivability to date. Ultimately, one particular
2 publication summed up all I had learned into one
3 simple sentence. "The long-term outcome of
4 epithelioid sarcoma is dismal."

5 After recovering from surgery, I immediately
6 began adjuvant radiation. The radiation itself was
7 tolerable for the 6 and a half weeks. At the end,
8 the entire treated area became extremely painful,
9 swollen, blistered, and oozed. This was
10 accompanied by severe fatigue, loss of stamina,
11 lymphedema, tendinosis, and an unrelenting burning.
12 The side effects lasted from several weeks to
13 months after the end of treatment, and some still
14 persist today.

15 The psychological and emotional effects of
16 this disease have been even more difficult to deal
17 with. The most difficult moment I've ever
18 experienced in my life occurred when I had to look
19 my parents in the eye and tell them my diagnosis.
20 Not only did their eldest child have cancer, but it
21 was most likely to end his life early.

22 I'm tormented by the less obvious effects on

1 my life. Who would want to marry someone with this
2 disease or these odds? Is my next MRI the one I'm
3 told they have to amputate my arm? Does this mean
4 I'll never know what it's like to be a father? If
5 it spreads to my lungs, how much time do I have?

6 The potential side effects associated with
7 tazemetostat are fatigue, nausea, vomiting,
8 diarrhea, weight decrease, and anemia. As you can
9 see, not only are many of the symptoms I experience
10 caused directly by the disease, similar to those of
11 tazemetostat, so too are the adverse effects of
12 current adjuvant treatments similar. From my
13 perspective, the side effects of tazemetostat are
14 objectively minor and easier to deal with and more
15 manageable than the symptoms and effects of the
16 disease itself, and certainly better than the
17 oppressive survival rates that face me.

18 In conclusion, tazemetostat provides a clear
19 and direct benefit to current patients and fulfills
20 an unmet need that is persistent for far too long.
21 It has data to support its effectiveness on patient
22 outcomes and provides a valuable option to doctors

1 and patients fighting an aggressive and deadly
2 disease, which there are few effective treatment
3 choices. I am asking the Oncological Drugs
4 Advisory Committee to approve tazemetostat and
5 provide patients suffering from ES, like myself,
6 the one thing we need more than anything else;
7 hope.

8 DR. HOFFMAN: Thank you.

9 Will speaker number 5 step up to the podium,
10 introduce yourself, and please state your name and
11 any organization you're representing for the
12 record?

13 MS. FELSER: Good morning. Thank you for
14 the opportunity to address the ODAC panel regarding
15 the important progress that has been made for
16 sarcoma patients who have had few treatment,
17 alternatives. My name is Brandy Felser, and I'm
18 the executive director of the Sarcoma Foundation of
19 America or SFA.

20 The SFA's mission is to advocate for the
21 development of new and better therapies with which
22 to treat sarcoma, and we interact with government,

1 for-profit, and nonprofit entities to accomplish
2 these goals. Regarding transparency, the SFA has
3 received modest contributions from Epizyme,
4 amounting to less than 1 percent of the SFA's
5 annual operating budget. The vast majority of SFA
6 fundraising is through 5K run-walks, other
7 fundraisers hosted by patients and their families,
8 and individual donations. Thus, the SFA has no
9 financial interest in the success of the sponsor's
10 application. We do, however, have an interest in
11 supporting and advocating for promising new
12 treatments for sarcoma patients.

13 As a leading sarcoma patient advocacy
14 organization, SFA was one of few organizations that
15 provided the patient perspective as part of
16 Epizyme's ES collaborative patient advocate
17 roundtable. For the past 20 years, while we have
18 witnessed the dawning of the age of immunotherapy
19 and molecularly targeted therapy for cancer, people
20 with epithelioid sarcoma have been left behind,
21 waiting for a promising new therapy that might keep
22 the cancer in check and prolong their survival.

1 The drug being presented this afternoon,
2 tazemetostat, a potent agent aimed at molecular
3 target, common in epithelioid sarcoma, may be just
4 that. Not only is epithelioid sarcoma one of the
5 rarest cancers in the world diagnosed in less than
6 1 percent of sarcomas per year, it is one of the
7 most aggressive. It is also a young person's
8 disease, the median age of the patients in the
9 tazemetostat study being only 37 years old.

10 Currently, there is no FDA-approved product
11 for epithelioid sarcoma. Most patients are treated
12 with highly toxic chemotherapy that provides very
13 limited benefit, leaving patients with limited
14 options, diminished quality of life, and often less
15 than a year to live. Epithelioid sarcoma patients
16 need more and effective treatment options.

17 In our nearly 20 years of existence, the SFA
18 has interacted with many epithelioid sarcoma
19 patients who have been in a situation faced by
20 those who enrolled in the tazemetostat trial.
21 Patients who have advanced disease face inevitable
22 progression and death. Therefore, the improved

1 outcomes such as objective responses with durations
2 of approximately one year represent for our
3 patients hope for prolonged survivability.
4 Importantly, the fact that the toxicity of
5 tazemetostat is modest also means a better quality
6 of life while being treated compared to that from
7 current chemotherapy choices.

8 In summary, we are thankful to have a new
9 and promising treatment option for epithelioid
10 sarcoma patients. The addition of tazemetostat to
11 the limited options available would provide a
12 welcomed beacon of light to our community. On
13 behalf of epithelioid sarcoma patients in the
14 United States currently battling this disease, we
15 ask you to vote to approve tazemetostat for the
16 treatment of epithelioid sarcoma. Thank you.

17 DR. HOFFMAN: Thank you.

18 Will speaker number 6 step up to the podium
19 and introduce yourself? State your name and any
20 organization you're representing for the record.

21 DR. TRENT: Good morning. My name's Jon
22 Trent, and I am a sarcoma medical oncologist and

1 associate director of clinical research at
2 Sylvester Comprehensive Cancer Center. My travel
3 here was supported by Epizyme, but I'm not here
4 representing Epizyme. I canceled my clinic today
5 so that I could be here to advocate for my
6 patients.

7 Over my 17 years of practice, I've taken
8 care of scores of patients with epithelioid
9 sarcoma, and it often begins as a small mass on the
10 finger, or a toe, and it works its way, marching up
11 the extremity. It's often misdiagnosed as a benign
12 entity, often for years, such as a wart. Once
13 recognized as a malignant tumor, it's often
14 surgically removed, often requiring amputations.

15 One incredibly frustrating aspect of
16 epithelioid sarcoma is the exceptionally high
17 recurrence rates; some mark at 85 percent for a
18 primary localized tumor. These recurrences
19 relentlessly march up the extremity and require
20 subsequent surgical removals. This tumor is
21 relentless; let me be clear about that. These
22 recurrences continue and persist.

1 The typical patient will have 4 to 5
2 surgeries until an entire arm or leg is amputated.
3 We use the terms "relentless and marching" to
4 describe this tumor. The next recurrence after
5 amputation is often in the pelvis or on the chest
6 wall, and at this point, surgery and radiation are
7 often not options, and we turn historically to
8 standard therapies such as chemotherapy or targeted
9 therapy such as pazopanib.

10 You have to realize that this tumor is also
11 physically and psychologically tragic to patients
12 for a primary tumor because of the aggressive
13 surgical approaches. Fifty percent of patients
14 will present with distant or regional metastases at
15 the time of presentation. Patients with ES are
16 treated with systemic therapy; we've talked about
17 those options today: doxorubicin, pazopanib,
18 gemcitabine, docetaxel. These agents do not have
19 very high response rates, as we've seen in the 10
20 percent range.

21 The chemotherapy regimens are associated
22 with high toxicity. Let me be clear that

1 doxorubicin plus ifosfamide, doxorubicin can result
2 in neutropenic fever and patient death from those
3 complications. The other therapy, pazopanib that
4 we've discussed today, has a black box warning for
5 liver failure, so these are toxic therapies.

6 In review of the tazemetostat data and from
7 my experience, it's my opinion that this agent is
8 as effective, if not more, than the chemotherapy
9 and targeted therapies we've discussed today and
10 substantially better tolerated. Moreover, the
11 clinical benefit from chemotherapy or pazopanib is
12 very short-lived from my experience.

13 These novel agents such as tazemetostat,
14 first in category with the unique mechanism action,
15 is desperately needed for patients with this
16 disease. I feel so strongly about this agent that
17 we are opening an expanded access protocol and the
18 phase 3 protocol at our site so that we ensure
19 patients in the southeast and south Florida have
20 access to this medication until it is FDA approved.

21 Let's be honest. We know very little about
22 this cancer; 120 new patients diagnosed each year.

1 We know very little. So with the strongest of
2 terms, I support approving tazemetostat for our
3 patients with ES. Please feel free to contact me
4 with any questions, and I thank you for your time.

5 DR. HOFFMAN: Thank you.

6 Will speaker number 7 please step up to the
7 podium? Introduce yourself and state your name and
8 any organization you're representing for the
9 record.

10 MS. REINKE: Good morning. I'm Denise
11 Reinke, and I'd like to express my appreciation for
12 having this opportunity to speak at this very
13 important meeting. I speak today representing
14 three different but complementary perspectives.
15 One is as the president and CEO of SARC, the
16 Sarcoma Alliance for Research through
17 Collaboration, that is a nonprofit academic
18 research consortium that facilitates the conduct of
19 clinical trials that are investigator initiated
20 across multicenters.

21 Secondly, I represent as a founding member
22 of the Sarcoma Coalition, a relatively new

1 organization of sarcoma advocacy groups who have
2 come together to strengthen the collective voice of
3 the sarcoma advocacy community. And third, as a
4 sarcoma nurse practitioner, I have a part-time
5 appointment as a nurse practitioner in the sarcoma
6 program at the University of Michigan.

7 My disclosures include receipt of a \$10,000
8 unrestricted educational grant from Epizyme to SARC
9 to support a research advocacy training program
10 that was held by SARC. SARC has paid for my travel
11 expenses to this meeting today, and neither the
12 Sarcoma Coalition nor have I personally received
13 any funding from Epizyme.

14 My primary purpose today is to underscore
15 the importance of clinical research that includes
16 rare cancers such as sarcoma, and specifically
17 epithelioid sarcoma. We recognize that clinical
18 trial research is the important path for assessing
19 the potential for approving new treatments. While
20 rare diseases collectively affect more than 23
21 million Americans, as we start to focus in on the
22 subsets of rare diseases, the numbers can be very

1 small, making a timely and statistically meaning
2 trial challenging. However, for patients and
3 families dealing with uncommon diseases, who
4 desperately need better options, access is
5 critical.

6 This important work could not be done
7 without the full engagement of the sarcoma clinical
8 investigator community, patients, their families,
9 as well as pharmaceutical companies willing to
10 focus their interest and funding on rare sarcomas,
11 such as epithelioid sarcoma. SARC and Academic
12 Research Consortium has been engaged in
13 collaborative sarcoma research for over 16 years,
14 and we've learned that it's very important to have
15 subtype specific trials to make progress.

16 Given the unique difference of various
17 sarcomas, lumping subtypes together could
18 potentially lead to missing identification of a
19 beneficial new therapy. Hence, despite the
20 relatively small number of patients for the sarcoma
21 subtype like epithelioid sarcoma, trials like the
22 tazemetostat study are important.

1 While improving longevity of patients with
2 cancer as a prime importance, it is important to
3 also identify treatments that will improve quality
4 of life by reducing distressing symptoms associated
5 with disease. Given that epithelioid sarcomas
6 occur most often in young adults, effective
7 treatment and improved quality of life can
8 significantly impact their productive life-years at
9 an important stage of life, not only to the
10 individual but to our society as well. So as a
11 representative voice of the Sarcoma Coalition, we
12 want to clearly communicate the importance of
13 quality, as well as quantity, of life when dealing
14 with cancer at any age, but especially as a young
15 adult.

16 Lastly, from my perspective as a nurse
17 practitioner with 18 years of experience, caring
18 for sarcoma patients and having hopeful therapies
19 to help patients is important. Often patients and
20 families, as they search for treatment options,
21 will comment on the relative paucity of
22 alternatives in comparison to more common cancer

1 types. They note there's less information, fewer
2 trials, and rarely a trial focused only on their
3 specific subtype.

4 Where rare diseases pose hurdles and
5 challenges for clinical trial research, patients
6 facing life-threatening cancers urgently need
7 better options, and they are counting on us to help
8 identify and provide them. So on behalf of my SARC
9 research colleagues, the Sarcoma Coalition, and the
10 many patients and families living with and dealing
11 with sarcoma, I appreciate this opportunity to
12 share these thoughts today for your consideration
13 as you review and deliberate the data to consider
14 approval of a new treatment for patients with
15 epithelioid sarcoma. Thank you.

16 DR. HOFFMAN: Thank you.

17 Will speaker number 8 step up to the podium
18 and introduce yourself? State your name and any
19 organization you're representing for the record.

20 MS. COLLINS: Good morning. My name is
21 Siobhan Collins, and I am a sarcoma research
22 coordinator from the University of Colorado in

1 Denver, Colorado. Epizyme has covered my travel
2 here from Colorado so that I may be here today, but
3 please make no mistake; I am here not on behalf of
4 Epizyme but on behalf of my patients and to provide
5 you with my perspective as a researcher.

6 Sarcomas, as you know, are an extremely rare
7 form of cancer, representing roughly 1 percent of
8 all cancers total. Epithelioid sarcomas are an
9 even rarer subtype of this aggressive, rare type of
10 cancer, and as such, treatments available for
11 epithelioid sarcomas are limited and often show
12 little benefit.

13 Surgery is often one of the few options
14 available for epithelioid patients, and I have seen
15 several patients in our clinic alone undergo
16 multiple surgeries over the course of only a few
17 years, just to see their cancer return. Let me
18 share their stories.

19 In June of 2016, I met Sandra in our sarcoma
20 clinic, and she had recently been diagnosed with
21 epithelioid sarcoma of her left arm, which was
22 causing her significant pain, weakness, and

1 dysfunction. At that time, the best option for her
2 would have been a radical left arm amputation,
3 however, her treating oncologist that I work with,
4 Dr. Victor Villalobos, decided first to try a
5 clinical trial that we had available with an oral
6 drug named tazemetostat in the hopes of at least
7 delaying this debilitating surgery.

8 Sandra has now been on tazemetostat since
9 July of 2016, with not only a large decrease in the
10 size of her tumors, but more notably a dramatic
11 improvement in cancer-related symptoms as well.
12 Her ability to function with more strength and
13 range of motion in her left arm is evident in her
14 ability to go back to work as a daycare provider,
15 write her name legibly, and exercise, none of which
16 she was able to do before starting tazemetostat.

17 As her study coordinator for over 3 and a
18 half years, I have witnessed firsthand her
19 improvements and how well she has tolerated the
20 drug. Symptoms related to the drug, mainly nausea,
21 have been mild and very manageable with medication.
22 The Sandra that is here with me today is not the

1 Sandra that I met 3 and a half years ago.

2 My experience working with trial patients on
3 tazemetostat, however, has not been limited to one
4 patient. We enrolled another patient at our site
5 in 2017 with advanced epithelioid sarcoma who had
6 almost an identical experience on trial that Sandra
7 did, with the largest difference being that she had
8 already undergone multiple surgeries just over the
9 course of a few years.

10 Like Sandra, her lack of any significant
11 side effects, coupled with the benefits that
12 included decreased tumor size and sustained
13 improvement in energy level, and overall better
14 quality of life, were most notable for this
15 patient. Unfortunately, the travel involved in her
16 staying in the trial became too much for her and
17 her family, and she had to come off the trial; and,
18 unfortunately, her cancer progressed several months
19 later.

20 Individual patient outcomes have varied, but
21 all 5 patients that I enrolled at our site
22 tolerated the treatment extremely well compared to

1 other trial treatments and chemotherapies we have
2 used for sarcomas, and the majority had
3 stabilization of their rapidly growing tumors. I
4 can't stress enough that stable is a huge win for
5 epithelioid sarcomas and for sarcomas in general.

6 In summary, treatment options for
7 epithelioid sarcomas are severely lacking. Most
8 often, they do not yield sustained responses and
9 can involve multiple radical surgeries, including
10 amputations. I have worked with investigational
11 drugs for almost a decade over several different
12 types of cancers. Tazemetostat stands out in my
13 experience as an extremely well-tolerated, yet
14 effective treatment option for patients that do not
15 have many options at all. A more common type of
16 cancer, such as breast cancer, has the benefit of
17 multiple effective treatments, funding, and
18 research. Epithelioid sarcomas do not because they
19 are so extremely rare.

20 Based on the anecdotal evidence that I have
21 provided to you, I recommend that the committee
22 approve tazemetostat as soon as possible so that

1 other cancer patients are given a chance to benefit
2 from this therapy. I would like to thank the FDA
3 and the committee for their time and attention to
4 this very important issue.

5 DR. HOFFMAN: Thank you.

6 Will speaker number 9 step up to the podium
7 and introduce yourself? State your name and any
8 organization you're representing for the record.

9 DR. FOX-RAWLINGS: Thank you for the
10 opportunity to speak today on behalf of the
11 National Center for Health Research. I am
12 Dr. Stephanie Fox-Rawlings, the center's research
13 manager. Our center analyzes scientific and
14 medical data to provide objective health
15 information to patients, health professionals, and
16 policy makers. We do not accept funding from drug
17 or medical device companies, so I have no conflicts
18 of interest.

19 We can all agree that there is a need for
20 better treatment options for patients with
21 epithelioid sarcoma. We can also agree that new
22 treatments still need to have a real and meaningful

1 benefit to patients. Just as important, there
2 needs to be enough information about the treatment
3 so that patients and their physicians can determine
4 if the benefits outweigh the risks for each
5 patient, so they can decide whether or not to try
6 it.

7 There can be differences of opinion on what
8 would be a meaningful benefit and what would be a
9 likely risk, and those will vary for individual
10 patients. Unfortunately, there is limited
11 information about the benefits of this drug. There
12 is only one clinical trial with two cohorts that
13 have different eligibility criteria and different
14 primary endpoints. Only 11 to 15 percent of the
15 patients in those cohorts had a decreased size of
16 lesions with variation in the time to response and
17 duration of response.

18 Based on the data discussed today, it is
19 difficult to determine how well the treatment works
20 and whether the effect is clinically meaningful. A
21 major problem is the lack of a good control group.
22 In this study, there was no internal comparison

1 group, and the options for historical controls that
2 were provided differed from the current study in
3 terms of patient selection, study design,
4 measurement of response rate, and/or when the study
5 occurred. In other words, the control groups were
6 different enough that they are not very
7 informative.

8 Another major problem is that the study
9 doesn't provide direct information about patient
10 survival or quality of life. A decrease in tumor
11 size is desirable, but it may not be meaningful for
12 patients if it isn't associated with a better
13 quality of life or long-term prognosis. So the
14 level of benefit that patients receive from a
15 decrease in just tumor size is unclear.

16 Unfortunately, there are a lot of adverse
17 events associated with drug. Some of these adverse
18 events were serious, including the potential for
19 secondary cancers, but many of the less serious
20 adverse events are also likely to reduce patient's
21 quality of life. These risks may be acceptable for
22 some patients if the treatment provided a

1 meaningful benefit. The purpose of the day's
2 meeting is to weigh those likely risks compared to
3 the benefit of tumor shrinkage for 11 to 15 percent
4 of patients.

5 Some might say that since the current
6 treatment options are poor, any new treatment
7 should be approved, even if it only provides hope.
8 But if the mission of the FDA was merely to provide
9 hope, they would approve placebos, as well as every
10 new drug. The FDA needs to maintain high standards
11 for approval. This advisory committee is asked to
12 advise the FDA if there's sufficient scientific
13 evidence that the benefits outweigh the risks for
14 most patients; or if not, if there's a proven
15 subgroup of patients that the drug could be
16 approved for.

17 If you can't conclude that the benefits
18 outweigh the risks for a defined group of patients,
19 please consider advising the FDA on the kind of
20 evidence needed to provide that evidence prior to
21 approval. It can be much harder to obtain this
22 data after a drug is approved. Thank you for your

1 time.

2 **Clarifying Questions to Presenters (continued)**

3 DR. HOFFMAN: Thank you. The open public
4 hearing portion of this meeting is now concluded
5 and we will no longer take comments from the
6 audience. I do want to allow a couple of minutes
7 to have some clarification. I was asked to allow
8 that, and also to mention that Dr. Tap is not going
9 to be participating by phone as we originally
10 thought. Dr. Ward I think wanted to clarify
11 something, and then Dr. Agarwal had some answers to
12 some questions that she hadn't previously had.

13 DR. WARD: Thank you. Ashley Ward, FDA. I
14 wanted to clarify the FDA's consideration of stable
15 disease and point out that the FDA agrees with both
16 the patients and the providers that have been
17 discussing stable disease today, that prolonged
18 periods of stable disease can absolutely represent
19 an important and meaningful outcome for patients
20 with epithelioid sarcoma.

21 The issue that the FDA was trying to
22 communicate was that we don't feel that stable

1 disease can be measured on a single-arm study.
2 Stable disease is usually assessed as part of the
3 endpoint progression-free survival, which
4 necessitates randomization to be able to account
5 for patient level differences in disease course.

6 DR. HOFFMAN: Thank you. I think some
7 questions have been put to Dr. Agarwal that she
8 didn't have the answers to earlier, and she wanted
9 to provide them.

10 DR. AGARWAL: There was a question about the
11 therapies that were used after discontinuation of
12 tazemetostat. Here's the breakdown of all the
13 therapies that were used, and as you can see, most
14 of these patients are under what drugs that are
15 commonly approved or used. I believe a lot of
16 these therapies are the ones that we just talked
17 about. I think it's important to understand that
18 because there are these generic therapies, many of
19 the patients actually continued post progression on
20 tazemetostat.

21 I would like to invite Dr. Demetri to
22 provide some insight on this aspect of

1 post-progression of use of tazemetostat and the
2 fact that there are just these basic therapies that
3 are used.

4 DR. DEMETRI: George Demetri, Dana-Farber.
5 I would like to just simply add what we've already
6 talked about, that patients and physicians together
7 decided to continue this agent despite a
8 RECIST-defined progression. At some point, the
9 investigators, well beyond me, many investigators
10 across the world who participated in this, decided
11 to move on to other therapies.

12 DR. AGARWAL: I think the second question
13 about any specific data on disease progression
14 before treatment, as we talked about earlier, it's
15 very hard to collect this data. What we have is
16 our last treatment and the duration of the last
17 treatment, which was 2.4 median, which I've already
18 indicated to you. But we don't have data in terms
19 of the trajectory of that treatment before
20 tazemetostat.

21 DR. HOFFMAN: Dr. Uldrick, did you have a
22 question?

1 (Dr. Uldrick gestures no.)

2 DR. HOFFMAN: Okay. I had a question for
3 Dr. Demetri. The FDA's review had mentioned some
4 liver toxicity dose interruptions for abnormal
5 transaminases, and I hadn't heard about that
6 earlier. Were these significant or relatively
7 minor?

8 DR. DEMETRI: George Demetri, Dana-Farber.
9 These were relatively minor. These led to
10 temporary interruptions of dosing. Sorry about
11 that; there are a few of these up here. I don't
12 think we need the slides. Any AE leading to dose
13 reduction was only one.

14 This is not the right slide; forget the
15 slides. Honestly, I've reviewed the data. There
16 are temporary several day interruptions when the
17 protocol-defined liver tests would go up, and then
18 they come down a few days later. This was nothing
19 that led to any sort of Hy's law or anything else.

20 Now that we have a slide here, let me just
21 point -- there we go. In the primary population of
22 all grades, there were a few that were at grade 3;

1 3 percent of 62 is a very small number that came
2 and went. We did not do anything other than a
3 temporary, several day discontinuation of the drug
4 that then restarted as per the protocol rules.

5 DR. AGARWAL: Can I just add a little bit?
6 In terms of this AST/ALT, there were 3 patients who
7 led to interruptions, and all these patients had
8 liver mets. They're either bowel obstruction or
9 liver mets interruption for less than 2 weeks, and
10 it is logged.

11 DR. HOFFMAN: Dr. Sung, did you have another
12 question?

13 DR. SUNG: As I understand, Cohort 6 was
14 designed to explore the immune priming effects of
15 the study drug. Is there any data from that
16 available?

17 DR. AGARWAL: Cohort 6 was added after
18 Cohort 5 was started. We required mandatory
19 biopsies. The trial is ongoing. We are still
20 collecting data. As I mentioned earlier, we have
21 still patients ongoing, so we are in the process of
22 collecting that data.

1 DR. SUNG: But you should have the biopsies,
2 right? Because you did a biopsy beforehand and you
3 did a biopsy right after treatment starts. So all
4 these patients have been followed on study for
5 several months.

6 DR. AGARWAL: The cohort actually completed
7 in May of this year. Although the study started,
8 it finished May this year, so we have biopsies. We
9 have [indiscernible] data. It's ongoing.

10 DR. HOFFMAN: Dr. Halabi?

11 DR. HALABI: Thank you. I had a question
12 for the FDA. I would appreciate clarification on
13 the accelerated approval program. It's my
14 understanding that this program is for drugs that
15 have been developed for diseases with unmet need,
16 and usually those are based on a surrogate
17 endpoint. So the key question here is, is there a
18 deadline or a timeline on when the sponsor should
19 complete the phase 3 trial?

20 DR. PAZDUR: No, nothing is stated
21 specifically as far as time. The only caveat is
22 that these studies should be done with due

1 diligence.

2 DR. HALABI: Thank you.

3 DR. HOFFMAN: Are there any other clarifying
4 questions before we move to our discussion of the
5 questions?

6 (No response.)

7 **Questions to the Committee and Discussion**

8 DR. HOFFMAN: Okay. We'll now proceed with
9 questions to the committee and panel discussions,
10 and I would like to remind the public observers
11 that while this meeting is open for public
12 observation, public attendees may not participate
13 except at the specific request of the panel.

14 The question -- it's on the screen -- is for
15 us to please discuss whether the evidence from
16 Cohorts 5 and 6 of EZH-202 is sufficient to
17 establish the benefit of tazemetostat in patients
18 with epithelioid sarcoma. If there are no
19 questions or comments considering the wording of
20 the question, we'll open it to discussion.

21 Dr. Hinrichs?

22 DR. HINRICHs: To me, a major part of this

1 question comes down to the clinical activity of the
2 drug. To get a discussion about that started, I'd
3 like to ask the members of this committee, who are
4 specialists in this disease, to comment on the
5 response rate and the stable disease that was
6 observed and, basically, what you think of the
7 tumor curves.

8 DR. MEYER: Christian Meyer from Johns
9 Hopkins, a medical oncologist who cares for sarcoma
10 patients. I certainly would echo a lot of the
11 commentary from other oncologists that the disease
12 is relentless and progressive. I certainly haven't
13 seen the type of responses presented here with this
14 data, not in terms of the stable disease and all
15 the complications that come with that
16 interpretation, but just in terms of people
17 actually having responses on the drug. I have not
18 seen this disease spontaneously regress, so the
19 drug is having some effect.

20 Certainly, when I have a patient in the room
21 counseling them on treatment going forward, going
22 back to an earlier comment about equipoise, what

1 I'm able to say to them is I honestly have no data
2 for you for epithelioid sarcoma, so we're kind of
3 wide open in terms of what I would consider
4 treating you for. So it's a balance of kind of
5 help and harm.

6 Certainly, the standard therapies that have
7 been mentioned here several times today come with
8 significant side effects. I'm not discounting any
9 of the grade 3 or grade 4 that were presented here,
10 but relatively speaking, they're minor compared to
11 the side effects that were presented with
12 tazemetostat. So in my mind, simply the stable
13 disease and the partial responses are something
14 I've not seen in the other therapies that are
15 currently available for me to give.

16 DR. HOFFMAN: Dr. Riedel?

17 DR. RIEDEL: Rich Riedel from Duke
18 University. Just to make a couple of quick
19 comments, the things that struck me, to address
20 your question directly, to me a response rate as
21 shown in this trial of 11 to 15 percent, depending
22 on the cohort that you looked at, is considered

1 clinically meaningful, in my opinion: pazopanib, a
2 response rate of 4 percent across a broad range of
3 unselected sarcomas; doxorubicin, depending on the
4 study that you look at, a response rate of 5
5 percent to perhaps 20 percent across a broad range
6 of unselected sarcomas; and I can almost assure
7 you, although I don't know this with certainty,
8 that epithelioid sarcoma was not heavily
9 represented in either of those studies.

10 So in the end, you're left with the clinical
11 experience of experts in the room who universally
12 have conveyed the message that this is a relentless
13 disease that does not respond to standard of
14 therapy. As I look at the first and only
15 prospective clinical trial in epithelioid sarcomas
16 showing a response rate of 11 to 15 percent, what
17 I'm struck by is not only the response but also the
18 durability of those responses. Patients who are
19 enrolled in this study, 95 percent were
20 progressing -- however you want to define
21 that -- prior to study entry. In the meantime on
22 their prior therapy, which is a standard therapy,

1 it was 2 and a half months, wholly inadequate.

2 Lastly, I'll just say that my mantra -- and
3 I think this was mentioned earlier -- is that
4 stable disease is important. My mantra in
5 clinic -- and I tell every patient this -- is that
6 stable disease is a good thing, and I try to set up
7 an expectation early that stable disease is
8 something that we're more likely to see rather than
9 a response. This is for all sarcomas. If I get
10 stable disease with epithelioid, I'm ecstatic
11 because we don't see it often.

12 DR. HOFFMAN: Ms. Webb, do you have a
13 comment?

14 MS. WEBB: I guess I just wanted to, first
15 of all, echo what Dr. Riedel and Dr. Meyer were
16 saying regarding the overall response rate and the
17 durability of it as well. I think that's on slide
18 72. With being able to keep it at 76 months with
19 64 percent, that's a dream for a lot of us. Those
20 are probably the first two if I could boil down the
21 elements, from what I read, the issues, the
22 response rate, durability.

1 Also, one of the concerns I think the FDA
2 has is with the secondary malignancies, but from
3 what I understand, doxorubicin also has secondary
4 malignancies. With all of the information that you
5 provided, those were all patients that also took
6 multiple therapies as well.

7 So I guess what I'm getting at is when we're
8 given a choice by our oncologist, we understand
9 that there are a lot of risks with these medicines.
10 They're hard medicines to be taking, and there are
11 risks that we're going to have to address. But we
12 would like the opportunity to be able to discuss
13 that with our oncologist and be able to look at
14 those risks and understand them, but at least be
15 given a choice. I think that the other elements
16 are proving that this would be an effective
17 benefit.

18 The other part of this I think is also
19 looking at the safety or toxicity of this drug. I
20 think it's pretty clear that this drug has so much
21 less -- it brings us so much more quality of life,
22 so we're actually able to go out and do things, and

1 we're not impacted as much with some of these
2 horrific secondary issues that the drugs cause.

3 There's one other element that hasn't been
4 discussed today. For example, I have another a
5 friend that has epithelioid sarcoma that has
6 reached his maximum dose of doxorubicin, and he's
7 just now finished with pazopanib. It's no longer
8 working. So what choices does he have? There
9 really isn't much. There's really nothing
10 available, right? So they're working through that,
11 but tazemetostat would give us another option. I
12 think that's important to add that to our arsenal.
13 Thank you.

14 DR. HOFFMAN: Yes?

15 DR. LEMERY: Steve Lemery, to clarify why we
16 bring up the secondary malignancies. I mean, this
17 is a public discussion, just to point out that this
18 has happened. We fully understand in this disease,
19 especially with the relatively short life
20 expectancy in patients with this disease, this is
21 less of an issue for this disease. But we do think
22 it's important to be completely open about all the

1 effects that have been observed with use of this
2 drug.

3 I think from the agency's standpoint, the
4 biggest issue is the uncertainty given the single-
5 arm trial and really being able to communicate to a
6 patient what the toxicities are or whether there
7 are potential rare toxicities that we're not aware
8 of. But I think the secondary malignancies is not
9 the be end all for us, especially for this patient
10 population.

11 DR. HOFFMAN: Dr. Uldrick?

12 DR. ULDRICK: The thing I'm struggling most
13 with is trying to understand how to think about the
14 stable disease, and I was hoping that, again, our
15 colleagues who treat more sarcoma could help me
16 understand this. In terms of this specific rare
17 sarcoma, it seems that there may be a variability
18 in the natural history. We've heard some stories
19 where it takes many years to even be diagnosed with
20 the disease.

21 I'm just curious as to your thoughts as to
22 whether the patient population selected for this

1 study potentially included people whose natural
2 history would have been longer. I think that the
3 median number of lesions were relatively small.
4 The target lesions were about 5 and a half
5 centimeters on average. Is it possible that the
6 stable disease observed in a patient population
7 with that tumor burden is consistent with the
8 natural history of epithelioid sarcoma?

9 DR. RIEDEL: Rich Riedel from Duke. In my
10 experience, the more indolent course of the disease
11 occurs in individuals with localized disease as
12 opposed to those with metastatic disease, which is
13 the patient population that we're talking about.
14 So in my experience, it's not unusual for someone
15 who has a localized lesion, multiple surgeries, but
16 when they develop metastatic disease, there can be
17 a change and the pace of the disease can increase.

18 I don't know if I could specifically answer
19 your question for what you're asking except to say
20 that, in my experience, it's not the case for
21 metastatic disease. I don't see a waxing and
22 waning, a stabilization; it's just a progressive

1 march.

2 DR. HOFFMAN: Dr. Halabi?

3 DR. HALABI: What I'm struggling here with
4 is the clinical benefit because the study, you had
5 only 9 patients in Cohort 5 who responded. And
6 even though the median duration of response was
7 16.4 months, the median progression-free survival
8 was less than 4 months. So I'm trying to
9 understand the data, and the key question here is
10 whether stable disease could be a measured or a
11 proxy measure of clinical benefit.

12 I'm following up to Dr. Uldrick's comment.
13 So can the clinician try to help me understand the
14 data? Because you have only 9 patients who
15 responded out of 62, and even though the median
16 duration is 16 months, duration of response, the
17 median PFS was, I believe, 16 weeks.

18 DR. HOFFMAN: Dr. Meyer?

19 DR. MEYER: Thank you. Christian Meyer from
20 Johns Hopkins. I'll try to comment on both of
21 those questions. Getting back to the natural
22 history of the disease that you asked before, it is

1 true, there can be a slower pace, slower burden of
2 disease. And I'd agree with Dr. Riedel that that's
3 typically more in the localized setting.

4 The other thing I might want to point out
5 about the target legions commentary in this
6 particular disease is that we don't necessarily see
7 large lesions all the time. So there can be
8 patients that are just dotted with smaller tumors,
9 and they're not always these gigantic tumors that
10 people may think about with sarcomas. So that
11 aspect of it brings questions about burden of
12 disease, but people can have heavy burdens of
13 disease with very small tumors in this particular
14 entity.

15 Then, going back to the question about
16 stable disease, I guess, one thing that at least
17 impressed me in terms of the responses were that
18 the people that responded had durable responses. I
19 guess in Cohort 5, in those 9 patients, the median
20 duration of response I want to say was about 69 or
21 70 weeks there. So you selected a population of
22 people that have had a response to a drug and

1 maintain that response, knowing that anything else
2 we have to poorly compare it to, when all these
3 other trials that aren't set up to compare, we
4 don't see that type of duration.

5 So in my clinical experience, having
6 somebody take let's say doxorubicin for 6 cycles,
7 in most studies with doxorubicin, the average
8 progression-free survival is somewhere between 4
9 and 6 months. So that essentially means is that
10 you've taken 6 cycles of doxorubicin, for 4 and a
11 half months, you're done, and you progress, and
12 you've got to go on to something else. That's what
13 that means in the real-world clinic, which is
14 unfortunate but true.

15 So what's striking is that some of these
16 people that actually had their tumors shrink
17 maintain their response, which does sway me a
18 little bit in terms of the benefit this is giving
19 to the people that respond.

20 DR. HOFFMAN: Dr. Sung?

21 DR. SUNG: If I understand correctly, it
22 appears that there are two different settings.

1 There's the frontline setting, which as the FDA has
2 pointed out, it becomes very hard to evaluate
3 stable disease. It becomes very hard to evaluate
4 what the natural history of the disease would have
5 been, and it becomes very hard to compare results
6 with this therapy as opposed to other established
7 therapies.

8 However, in the second-line setting, where
9 the disease is already progressing through
10 doxorubicin or pazopanib, where the patient has
11 already failed those things, to have stable disease
12 in that setting I think becomes much more
13 meaningful if you are looking at, as Dr. Hinrichs
14 was saying, the trajectory, because in those
15 settings, the trajectory, it's getting worse and
16 it's stopping.

17 Is that correct?

18 DR. RIEDEL: In my experience, the
19 trajectory is fast in the frontline setting. It's
20 in the localized disease setting where it can be
21 more indolent. So for me, it's frontline
22 metastatic, second-line metastatic. It's all bad,

1 which is why I think there's enthusiasm, or at
2 least my potential enthusiasm, for a drug like
3 this; that even if stable disease, it's what
4 happens for the majority of patients. The other
5 thing I would point out is 70 percent of patients
6 with some decreased size and target burden is
7 pretty impressive for this disease, in my opinion.

8 DR. HOFFMAN: I'd like to comment as I guess
9 someone who's been in oncology longer than I care
10 to mention. With respect to the way we measure and
11 grade responses, that it's certainly the case with
12 some of the targeted drugs, that we'll often treat
13 beyond progression if there's an additional lesion
14 that is not symptomatic because sometimes patients
15 can continue to be having benefit even if there is
16 an additional lesion.

17 There are different criteria for the
18 immunotherapy drugs that are coming into play. I
19 certainly don't know enough about the chemistry of
20 this drug to know about whether epigenetic
21 phenomena take longer and how that plays into it.
22 But I do have the sense as a clinician that stable

1 disease is often very meaningful to patients.
2 Their lives may be extended even if it's not a
3 measurable reduction. But I do think that some of
4 the standard criteria by which we measure response,
5 with some of the newer drugs that we're looking at
6 in the last number of years, maybe those are not
7 the best criteria to make decisions in all cases.

8 Dr. Riedel?

9 DR. RIEDEL: Rich Riedel from Duke. Just to
10 follow up on that, that is true. Particularly in
11 sarcoma, our experience with antiangiogenic agents,
12 i.e., pazopanib, tell us that RECIST probably is
13 not the appropriate measure of response. Some
14 people have looked at things like Choi criteria,
15 for example, where you can actually see a
16 paradoxical increase in the size of the tumor with
17 an associated hypoattenuation on imaging.

18 I don't know if it's appropriate to ask the
19 sponsor or not, but I was wondering if there were
20 any alternative radiologic assessments, i.e., were
21 there any Choi responses seen or not? I don't know
22 if we can ask that or not.

1 DR. HOFFMAN: We can ask if someone wants to
2 address that.

3 DR. AGARWAL: Shefali Agarwal. Those were
4 not performed in this study.

5 DR. HOFFMAN: Other questions or comments?

6 (No response.)

7 DR. HOFFMAN: We can close the discussion
8 regarding this question. Our second question is
9 the one that we'll be specifically voting on today,
10 and that is, does the demonstrated benefit of
11 tazemetostat outweigh the risks of the drug in the
12 proposed indication that the applicant is
13 proposing?

14 First, if there are no questions or comments
15 about the wording of the question, we will now open
16 this to discussion. Any comments about the wording
17 of the vote question?

18 (No response.)

19 DR. HOFFMAN: Okay. We can begin discussion
20 of that.

21 DR. HOTAKI: If you guys want to just
22 discuss the question, just not say how you're going

1 to vote if there's anything that you want to
2 comment about the question, or we can just move to
3 voting if no one has any other further --

4 DR. HOFFMAN: You have your card -- oh,
5 okay.

6 We'll be using an electronic voting system
7 for this meeting. Once we begin the vote, the
8 buttons will start flashing and will continue to
9 flash even after you've entered your vote. Please
10 press the button firmly that corresponds to your
11 vote. If you're unsure of your vote or you wish to
12 change your vote, you may press the corresponding
13 button until the vote is closed.

14 After everyone has completed their vote, the
15 vote will be locked in. The vote will then be
16 displayed on the screen. The DFO will read the
17 vote from the screen into the record. Next, we'll
18 go around the room and each individual who voted
19 will state their name and vote into the record.
20 You can also state the reason why you voted as you
21 did if you want to.

22 Any comments about the process?

1 (No response.)

2 DR. HOFFMAN: Okay. Please press the button
3 on your microphone that corresponds to your vote.
4 You'll have approximately 20 seconds to vote.
5 Please press the button firmly. After you've made
6 your selection, the light may continue to flash.
7 If you're unsure of your vote or you wish to change
8 your vote, please press the corresponding button
9 again before the vote is closed.

10 (Voting.)

11 DR. HOTAKI: For the record, the vote is 11
12 yes, zero noes, zero abstentions.

13 DR. HOFFMAN: That's unusually uniform.

14 (Laughter.)

15 DR. HOFFMAN: Now that the vote is complete,
16 we'll go around the table and have everyone who
17 voted state their name, vote, and if you want to,
18 you can state the reason why you voted as you did
19 into the record. Should we start with Dr. Riedel?

20 DR. RIEDEL: I voted yes. For me, it's what
21 I perceive to be a clinical benefit and meaningful
22 benefit to patients. As we've mentioned, stable

1 disease is a good thing. There's clearly a
2 proportion of patients who get response that's
3 durable. It's an oral therapy that appears to be
4 well tolerated.

5 DR. MEYER: Christian Meyer. I voted yes.
6 I voted yes for many of those same reasons. It was
7 in my opinion that it provided a meaningful benefit
8 to patients, as well as the fact that it was the
9 first trial that looked prospectively at this
10 disease going forward with some data on response
11 rates that we can use, hopefully, in a productive
12 fashion for further trials.

13 MS. WEBB: I voted yes for those same
14 reasons.

15 DR. HAWKINS: Randy Hawkins. Yes, well
16 spoken. I think part of the problem is it can
17 induce bias, in my thinking, against this type of
18 trial because of small numbers, but it's not
19 actually fair if you have a very, very rare
20 disease. So I was impressed enough to say we
21 should have this added to the toolkit of the
22 oncologists and the recommendation by clinicians on

1 the panel.

2 DR. SUNG: Anthony Sung. I voted yes for
3 many of the reasons my colleagues described,
4 particularly in the second-line setting. I still
5 remain unconvinced by the data in the first-line
6 setting that this is superior to other existing
7 therapies like doxorubicin or pazopanib. I do
8 think there would be room for, say, approval in the
9 second-line setting, which would also leave room
10 for the proposed randomized clinical trial to occur
11 and take place in the frontline setting, because I
12 think that question is still undecided.

13 Finally, I would just make a comment to the
14 sponsor that I would hope they build in
15 quality-of-life studies into the RCT because I
16 think it's come up multiple times before ODAC,
17 where sponsors suggest that there is a better
18 quality of life or benefit, but they do not have
19 the data to back that up.

20 DR. ULDRICK: Thomas Uldrick. I voted yes
21 as well due to the demonstration of a small tumor
22 regression rate in a disease for which that doesn't

1 seem to happen with other therapies. It's the
2 first study to show this perspective. I guess
3 for a disease with only 120 patients per year, I
4 think the real way to move the bar forward is,
5 really, continued clinical trials and clinical
6 studies. I think that the community of patients
7 with this disease and the doctors who treat them
8 deserve some better evidence to figure out how to
9 use this drug.

10 So I really think that it's important to see
11 the clinical trial go forward and for further data
12 to be gathered on the possibility that leads to
13 stabilization of disease.

14 DR. CRISTOFANILLI: Cristofanilli. Of
15 course I was yes, and maybe for similar reasons. I
16 was convinced by the efficacy in patients with
17 refractory disease that progressed on prior
18 therapy. For oncology, there's always an
19 indication of activity, so this drug has some
20 activity. Maybe the response rate doesn't reflect
21 that. It seems like the stability of the disease
22 may reflect that in this disease with a short

1 survival.

2 Clearly, maybe with the rare disease,
3 continuation of a clinical trial registry to follow
4 these patients over time, more patients will be
5 treated to especially understand the impact on
6 quality of life and the safety. We don't have a
7 better understanding now, and I'm a little bit
8 concerned on the randomized study recommendation
9 with doxorubicin, but time will tell.

10 DR. HOFFMAN: I'm Philip Hoffman. I voted
11 yes. I believe that although the response rate is
12 low, I was also impressed, as a few others have
13 mentioned, about the duration of some of those
14 responses. I'm also impressed that this does
15 appear to be safe. While the concern for second
16 malignancies is out there, it seems quite rare.
17 The natural history of patients with advanced
18 sarcoma is such that I think the consideration of
19 secondary malignancies is really not very important
20 to that group of patients.

21 DR. HALABI: Susan Halabi. I also voted
22 yes. Obviously, there is an unmet need, and this

1 is a rare disease. The data did show some modest
2 response among a total of 14 patients in both
3 Cohorts 5 and 6. I was also concerned about risk
4 of developing secondary malignancies, but, again,
5 the data is missing, and definitely there needs to
6 be a longer duration of follow-up for the patients
7 enrolled on both Cohorts 5 and 6.

8 This last comment is more for the sponsor.
9 I hope that you will pick up a clinically
10 meaningful endpoint in your phase 3 trial because
11 I'm not convinced that PFS is your best endpoint,
12 and I don't have the answer to what the best
13 endpoint is going to be.

14 (Laughter.)

15 DR. HALABI: Also, I'm not sure 130 patients
16 is sufficient.

17 DR. HINRICHS: Christian Hinrichs. I voted
18 yes. I'm left overall with the impression that the
19 drug has striking clinical activity in a really
20 aggressive disease that is hard to interfere with
21 its natural course. This is despite the number for
22 the response rate being relatively low. I think

1 that this reflects a limitation in the way that we
2 measure disease responses now with RECIST being our
3 best tool, but I think many of the experienced
4 oncologists on the panel and in the room recognize
5 the limitations of that tool.

6 I think that also there are some patients
7 who clearly benefit, and benefit with nice and
8 durable responses. That they benefit is further
9 supported by considerations related to the safety
10 of the drug. I am impressed by the low rate of
11 discontinuation of the drug. It's really
12 remarkable. The possibly most concerning safety
13 issue related to secondary malignancies, I don't
14 see as a major problem in the context of this
15 aggressive primary malignancy.

16 DR. KLEPIN: Heidi Klepin. I voted yes for
17 all of the same reasons I think were already
18 articulated. I think the data was sufficient to
19 show a clinically meaningful effect with durable
20 responses and at least a subset of the patient
21 population who have a very high morbidity and high
22 mortality disease with few other options. So I

1 think that's sufficient.

2 I was also impressed that the safety data
3 appeared to demonstrate tolerability, so I think
4 this is an option for patients in this setting. I
5 struggled a little bit with whether or not the
6 blanket approval -- as was mentioned by Dr. Sung as
7 well, the data I think best supports approval in
8 the second-line setting based on the population
9 studied.

10 If it's approved in the first line, all
11 lines of therapy, this, as I'm hearing the
12 conversation, could move into being the standard of
13 care for a lot of patients and practice, if I
14 understood some of my colleagues discussion, in
15 which that does reflect on the proposed phase 3
16 trial and the conversation around, one, would you
17 have difficulty enrolling in the current design,
18 and if so, does that comparator arm need to change?

19 So it's just something to think about; and
20 also echoing the comments about strongly
21 encouraging the sponsor to include patient-reported
22 outcome data. We talk about the benefits to the

1 patient, and we never show the data, and there are
2 sufficient validated tools to do so. The FDA has
3 really led the way in demonstrating how they can be
4 used in clinical trial designs. So if you haven't
5 already incorporated that, I would strongly
6 advocate that you do.

7 DR. HOFFMAN: To summarize, I think,
8 obviously, the vote is overwhelmingly positive. I
9 think there was agreement that although this is a
10 rare disease, it's a very difficult disease. There
11 aren't a lot of good tools for it, and this does
12 represent an additional tool.

13 I think some of the limitations that people
14 voiced I think are real, that we don't have as much
15 information as we might like about the
16 quality-of-life issues, patient-reported outcomes
17 issues, and whether progression-free survival is
18 the best way to assess this, especially if there is
19 also the issue about where does stable disease fit
20 in the overall assessment of clinical benefit.

21 I do think certainly the positives are that
22 this was a prospective trial. It's an unmet need

1 for sure. The adverse events do not appear to be,
2 certainly, life threatening and only rarely lead to
3 any patient discontinuation or even delays. I
4 think we do need further clinical trials about
5 this. Because this is such a rare disease, and if
6 there are 120 patients per year in the United
7 States, I don't see this as the beginning of a
8 slippery slope of this drug being used
9 inappropriately in this patient population. We're
10 approving it, or we're recommending approval, based
11 on a very limited indication, and I think further
12 indications will probably come up over the years
13 and deal with them at that point.

14 Are there some final comments from the FDA?

15 DR. PAZDUR: No. Thank you.

16 **Adjournment**

17 DR. HOFFMAN: So we will now adjourn the
18 meeting. Panel members, please leave your name
19 badge here on the table so it can be recycled.
20 Please also take your personal belongings with you.
21 The room will be cleaned at the end of the meeting
22 day, and any materials left on the table will be

1 disposed of. I thank everyone for their time and
2 participation.

3 (Whereupon, at 11:56 a.m., the meeting was
4 adjourned.)

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22