

**FOOD AND DRUG ADMINISTRATION (FDA)
Center for Biologics Evaluation and Research (CBER)
160th Vaccines and Related Biological Products Advisory
Committee (VRBPAC) Meeting**

OPEN SESSION

Virtual Meeting

October 2, 2020

This transcript appears as received from the commercial transcribing service after inclusion of minor corrections to typographical and factual errors recommended by the DFO.

ATTENDEES

Hana El Sahly, M.D.	Baylor College of Medicine
Paula Annunziato, M.D.	Merck
Tammy Beckham, D.V.M., Ph.D.	Department of Health and Human Services
Archana Chatterjee, M.D., Ph.D.	Rosalind Franklin University
CAPT Amanda Cohn, M.D.	Centers for Disease Control and Prevention
Hayley Gans, M.D.	Stanford University Medical Center
Holly Janes, Ph.D.	Fred Hutchinson Cancer Research Center
Michael Kurilla, M.D., Ph.D.	National Institutes of Health
Myron Levine, M.D., D.T.P.H., F.A.A.P	University of Maryland School of Medicine
H. Cody Meissner, M.D.	Tufts University School of Medicine
Paul Offit, M.D.	The Children's Hospital of Philadelphia
Steven A. Pergam, M.D., M.P.H.	Seattle Cancer Care Alliance
Andrea Shane, M.D., M.P.H., M.Sc.	Emory University School of Medicine & Children's Healthcare of Atlanta
Paul Spearman, M.D.	University of Cincinnati School of Medicine
Geeta Swamy, M.D.	Duke University
Sheldon Toubman, J.D.	New Haven Legal Assistance Association
David Wentworth, Ph.D.	Centers for Disease Control and Prevention
Jerry Weir, Ph.D.	Food and Drug Administration
Kathleen Hayes, M.P.H.	Food and Drug Administration

Monique Hill, M.H.A.	Food and Drug Administration
Marion Gruber, Ph.D.	Food and Drug Administration
Prabhakara Atreya, Ph.D.	Food and Drug Administration

TABLE OF CONTENTS

OPENING REMARKS: CALL TO ORDER, INTRO OF COMMITTEE5
**ADMINISTRATIVE ANNOUNCEMENTS, CONFLICT OF INTEREST
STATEMENT.....12**
INTRODUCTION AND PRESENTATION OF QUESTIONS20
WORLD SURVEILLANCE29
OPEN PUBLIC HEARING99
COMMITTEE DISCUSSION, VOTING AND RECOMMENDATIONS99

1 **OPENING REMARKS: CALL TO ORDER, INTRO OF COMMITTEE**

2

3 **DR. EL SAHLY:** Welcome, everyone to the 160th
4 meeting of the Vaccines and Related Biological Products
5 Advisory Committee meeting. This meeting is a
6 teleconference with the topic of discussion being the
7 strain selection of the 2021 Southern Hemisphere
8 influenza season strain -- vaccine. I'm sorry.

9 We are all via a webcam now. I just want to
10 welcome everyone and remind you to use your Raise Your
11 Hand feature if you have a question. When you do that,
12 we can see who raised their hand and then invite them
13 to speak. The roll call and the housekeeping items
14 will be now read by Kathleen Hayes.

15 **MS. HAYES:** Thank you, Dr. El Sahly. We'll
16 begin today's meeting by taking a formal roll call. If
17 we look at the member roster slide, we can begin with
18 introductions with our chair, Dr. El Sahly and then
19 we'll go in order to Dr. Beckham, Dr. Chatterjee, and
20 so on.

1 When it's your turn, if you could just turn on
2 your video camera if you'd like to state your first and
3 last name, your area of expertise, and your
4 organization. Then you can turn off your camera, so we
5 can proceed to the next person. So, Dr. El Sahly, if
6 you'd like to start us off, please go ahead.

7 **DR. EL SAHLY:** Hana El Sahly. Baylor College
8 of Medicine at both infectious diseases and my work
9 revolves around clinical vaccine development against
10 influenza and other pathogens for public health
11 matters. Dr. Beckham?

12 **DR. BECKHAM:** Hi. I'm Tammy Beckham. I'm
13 Director of the Office of Infectious Disease and
14 HIV/AIDS policy in the Office of the Assistant
15 Secretary for Health.

16 **DR. EL SAHLY:** Dr. Chatterjee?

17 **DR. CHATTERJEE:** Good morning, everyone. My
18 name is Archana Chatterjee. Everybody calls me Archie.
19 You are welcome to do the same. I am the Dean of the
20 Chicago Medical School and Vice President for Medical

1 Affairs at Rosalind Franklin University. I'm a
2 pediatric infectious diseases specialist, and most of
3 my career, with regard to research, has been devoted to
4 childhood vaccines.

5 **CAPT. COHN:** Hi. This is Dr. Amanda Cohn. I
6 am the Chief Medical Officer at the National Center for
7 Immunizations and Respiratory Diseases. My areas of
8 expertise include pediatrics, vaccines, and public
9 health.

10 **DR. GANS:** Hi. I'm Hayley Gans. I am
11 Professor of Pediatrics and Infectious Diseases at
12 Stanford University. My area of expertise is in
13 vaccine immunology in the pediatric host as well as
14 immunocompromised hosts.

15 **DR. JANES:** Good morning. My name is Holly
16 Janes. I'm a biostatistician at the Fred Hutch, and I
17 work in vaccine trial design analysis in HIV and other
18 pathogens.

19 **DR. KURILLA:** Mike Kurilla. I'm the Director
20 of the Division of Clinical Innovation at the National

1 Center for Advancing Translational Sciences within the
2 National Institutes of Health; background in infectious
3 disease product development including vaccines,
4 therapeutics, and diagnostics, and a pathologist by
5 training.

6 **DR. LEVINE:** Good morning, everyone. This is
7 Mike Levine. I'm the Associate Dean for Global Health
8 Vaccinology and Infectious Diseases at the University
9 of Maryland School of Medicine. I'm boarded in
10 pediatrics and preventive medicine and broad
11 vaccinology and tropical public health experience.

12 **DR. MEISSNER:** Good morning. This is Cody
13 Meissner. My lens is not working at the moment, so I
14 apologize for that. I'm a professor of pediatric
15 infectious disease at Tufts University School of
16 Medicine. I have had a long-standing interest in
17 immunizations. Thank you.

18 **DR. OFFIT:** Yeah. Hi. I'm Paul Offit in the
19 Division of Pediatric Infectious Disease at the
20 Children's Hospital of Philadelphia and a professor of

1 Pediatrics at the University of Pennsylvania School of
2 Medicine. My general areas of interest are vaccines
3 and vaccine safety.

4 **DR. PERGAM:** Hello. I'm Steve Pergam, and I'm
5 an associate professor at both the Vaccine and
6 Infectious Disease Institute at Fred Hutchinson Cancer
7 Research Center and at the University of Washington.
8 My focus is on immunosuppressive population.

9 **DR. SHANE:** Good morning. I'm Andrea Shane.
10 I'm at Emory University in Atlanta. I'm a professor of
11 pediatric infectious diseases, and my interest is in
12 pediatric vaccines, immunogenicity and clinical trial
13 design. Thank you.

14 **DR. SPEARMAN:** Hi. This is Paul Spearman.
15 Good morning, everyone. I'm the Division Chief for
16 Infectious Diseases at Cincinnati Children's Hospital.
17 And my expertise is in virology, in particular, HIV
18 virology but also other viruses as well as vaccine
19 clinical development. Thanks.

20 **MS. HAYES:** Dr. Swamy, I think you're muted.

1 **DR. SWAMY:** Sorry. I thought I -- how about
2 now? Better?

3 **MS. HAYES:** Yes. Thank you.

4 **DR. SWAMY:** Okay. Great. Thank you. Sorry
5 about that. Hi. Geeta Swamy. I'm an associate
6 professor of Obstetrics and Gynecology at Duke
7 University. My area of expertise is in maternal
8 immunization to improve outcomes in women and young
9 infants and running vaccine trials in this special
10 population. Thank you.

11 **MR. TOUBMAN:** Good morning. This is Sheldon
12 Toubman. I'm an attorney with New Haven Legal
13 Assistance Association. I have no technical expertise
14 relevant to this group except that I am the consumer
15 rep or the consumer advocate for this group. Thank
16 you.

17 **DR. ANNUNZIATO:** Good morning. I'm Paula
18 Annunziato. I'm the Vaccines Clinical Development for
19 Merck, and I'm the non-voting industry representative
20 this morning.

1 **MS. HAYES:** Dr. Wentworth, since you're going
2 to be a speaker today, if you'd like to introduce
3 yourself.

4 **DR. WENTWORTH:** Sure. Yeah. My name is David
5 Wentworth. I'm the Branch Chief for the Virology
6 Surveillance and Diagnosis Branch in the Influenza
7 Division at the CDC. I am also the director of our WHO
8 Collaborating Center. I'm in epidemiology and virology
9 of influenza viruses.

10 **MS. HAYES:** Thank you. And Dr. Gruber and Dr.
11 Weir and Dr. Krause (phonetic) if you're present, if
12 you'd like to introduce yourself, feel free to turn on
13 your cameras and do so if you'd like.

14 **DR. GRUBER:** Well, this is Marion Gruber. I'm
15 the Director of the Office of Vaccines Research and
16 Review at the Center for Biologics FDA. Welcome.

17 **DR. WEIR:** Hi. This is Jerry Weir. I'm the
18 Director of the Division of Viral Products in the
19 Office of Vaccines at CBER.

20 **MS. HAYES:** Great. Thank you, everyone for

1 your introductions. I would also just like to
2 acknowledge the presence of Dr. Peter Marks, Director
3 of the Center for Biologics Evaluation and Research,
4 CBER; and Dr. Celia Witten, Deputy Center Director for
5 CBER; and to introduce myself and just make a few
6 administrative remarks.

7 My name's Kathleen Hayes. It's my pleasure to
8 serve as a Designated Federal Officer for today's 160th
9 VRBPAC meeting. Christina Vert is a Designated Federal
10 Officer as well and is also supporting this meeting.
11 The Committee Management Specialist for today's meeting
12 is Mr. Monique Hill, and she's supported by Ms. Joanne
13 Lipkind. The Committee Management Officer for today's
14 meeting is Dr. Jeannette Devine, and our Division
15 Director is Dr. Prabhakara Atreya.

16 **ADMINISTRATIVE ANNOUNCEMENTS, CONFLICT OF INTEREST**

17 **STATEMENT**

18 **MS. HAYES:** On behalf of the FDA and Center
19 for Biologics Evaluation and Research and VRBPAC, we
20 would like to welcome everybody to today's virtual

1 meeting. The meeting is being held to discuss and make
2 recommendations on the selection of strains to be
3 included in an influenza virus vaccine for the 2021
4 Southern Hemisphere influenza season. Today's meeting
5 topic was described in the Federal Register Notice that
6 was published on August 11, 2020.

7 The FDA CBER press media representative for
8 today's meeting will be Megan McSeveney, and the
9 transcriptionist is Albert Yeh. Before we begin with
10 reading the Conflict of Interest statement, I just
11 wanted to briefly mention a few administrative remarks
12 and housekeeping items related to today's virtual
13 meeting format.

14 For anyone using a public Yorkcast link
15 accessible from the FDA meeting page, there's a
16 separate link included for anyone who needs captioning.
17 Following today's meeting, the slides will be available
18 on our FDA meeting page; however, if you need copies of
19 the slides beforehand, you can send an email to CBER C-
20 B-E-R advisorycommittees@fda.hhs.gov. And for members,

1 speakers, FDA staff and anyone else joining us in the
2 Adobe room, if you could just keep yourself on mute
3 unless you're speaking to minimize feedback. And also
4 please only turn on your video if you're presenting,
5 commenting, or asking a question just to maintain the
6 bandwidth levels throughout the meeting.

7 Lastly, if you've raised your hand and are
8 called upon to speak on by Dr. El Sahly, please state
9 your first and last name and speak slowly and clearly
10 so that your comments are accurately recorded for
11 transcription and captioning.

12 I will now proceed with the Conflict of
13 Interest statement. The Food and Drug Administration
14 is convening virtually today, October 2, 2020, for the
15 160th meeting of the Vaccines and Related Biological
16 Products Advisory Committee, VRBPAC, under the
17 authority of the Federal Advisory Committee Act of
18 1972. Dr. Hana El Sahly is serving as the Chair for
19 today's meeting.

20 Today, on October 2, 2020, VRBPAC will meet in

1 open session to discuss and make recommendations on the
2 selection of strains to be included in an influenza
3 virus vaccine for the 2020/2021 Southern Hemisphere
4 influenza season. This topic is determined to be of
5 particular matter involving specific parties.

6 With the exception of the industry
7 representative member, all standing and temporary
8 voting or temporary non-voting members of VRBPAC are
9 appointed Special Government Employees, SGEs, or
10 Regular Government Employees, RGEs, from other agencies
11 and or subject to federal Conflict of Interest laws and
12 regulation.

13 The following information on the status of
14 this committee's compliance with Federal Ethics and
15 Conflict of Interest laws including, but not limited
16 to, 18 USC Section 208 being provided to participants
17 in today's meeting to the public.

18 Related to the discussion at this meeting, all
19 members, RGE and SGE consultants of this committee have
20 been screened for potential financial conflict of

1 interest of their own, as well as those imputed to them
2 including those of their spouse or minor children and
3 for the purposes of 18 U.S. Code 208, their employers.

4 These interests may include investments,
5 consulting, expert witness testimony, contracts and
6 grants, cooperative research and development
7 agreements, teaching, speaking, writing, patents and
8 royalties and primary employment. These may include
9 interests that are currently or under negotiation.

10 FDA has determined that all members of this
11 advisory committee are in compliance with Federal
12 Ethics and Conflict of Interest laws. Under 18 U.S.
13 Code 208, Congress has authorized FDA to grant waivers
14 to Special Government Employees or Regular Government
15 Employees who have financial Conflicts of Interest when
16 it is determined that the Agency's need for a Special
17 Government Employee service outweighs the potential for
18 a conflict of interest created by the financial
19 interest involved. Or when the interest of a regular
20 government employee is not so substantial as to be

1 deemed likely to affect the integrity of services which
2 the government may expect from the employee.

3 However, based on today's agenda, and all
4 financial interests reported by committee members and
5 consultants, no Conflict of Interest waivers have been
6 issued under 18 U.S. Code 208 in connection with this
7 meeting.

8 Dr. Paula Annunziato is currently serving as
9 the industry representative to this committee.

10 Industry representatives are not appointed as special
11 government employees and serve as non-voting members of
12 the committee. Dr. Annunziato is employed by Merck.
13 Industry representatives act on behalf of all related
14 industry and bring general industry perspective to the
15 committee. Industry representatives on this committee
16 are not screened, do not participate in any closed
17 sessions if held and do not have voting privileges.

18 Mr. Sheldon Toubman is serving as a consumer
19 representative for this committee. Consumer
20 representatives are appointed Special Government

1 Employees and are screened and cleared prior to their
2 participation in the meeting. They are voting members
3 of the committee and hence do have voting privileges,
4 and they are authorized to participate in the closed
5 sessions if they are held.

6 Dr. David Wentworth is employed by the Center
7 for Disease Control and Prevention as Chief of the
8 Virology Surveillance and Diagnosis Branch in the
9 influenza division. He's an internationally known
10 expert in the influenza virus epidemiology world-wide
11 influenza disease burden and influenza virus vaccine.
12 Dr. Wentworth is a Regular Government Employee and has
13 been screened for conflict of interest and cleared to
14 participate as both a speaker and as a temporary non-
15 voting member for today's meeting.

16 Disclosure of conflicts of interest for
17 speakers follow applicable federal laws, regulations,
18 and FDA guidance. As a speaker and temporary non-
19 voting member, Dr. David Wentworth is not only allowed
20 to respond to the clarifying questions from the

1 committee members but is also authorized to participate
2 in committee discussions in general. However, he is
3 not authorized to participate in the committee voting
4 process.

5 At this meeting, there may be regulated
6 industry speakers and other outside organization
7 speakers making presentations. These participants may
8 have financial interests associated with their employer
9 and support from other regulated firms. The FDA asks,
10 in the interest of fairness, that they address any
11 current or previous financial involvement with any firm
12 whose product they may wish to comment upon. These
13 individuals were not screened by the FDA for conflicts
14 of interest. FDA encourages all meeting participants,
15 including open public hearing speakers, to advise the
16 committee of any financial relationships that they may
17 have with any affected firms, its products, and if
18 known, its direct competitors.

19 We would like to remind members, consultants,
20 and participants that if the discussions involve any

1 other products or firms, not already on the agenda, for
2 which an FDA participant has a personal or imputed
3 financial interest, the participant needs to inform the
4 DFO and exclude themselves from such involvement and
5 their exclusion will be noted for the record.

6 This concludes my reading of the Conflict of
7 Interest statement for the public record. At this
8 time, I would like to hand the meeting back over to Dr.
9 El Sahly. Thank you. Dr. El Sahly, I think you might
10 be muted.

11 **DR. EL SAHLY:** Thank you, Kathleen, for the
12 introduction and the housekeeping item review. Dr.
13 Jerry Weir, the Director of the Division of Viral
14 Products at CBER from the FDA, is going to do the
15 introduction of the meeting and presentation of
16 questions. Dr. Weir.

17

18 **INTRODUCTION AND PRESENTATION OF QUESTIONS**

19

20 **DR. WEIR:** Hi. Thank you. Thanks, everyone,

1 and thanks for being here. Welcome.

2 I'm going to give just the briefest of
3 introductions just to remind everybody what we're here
4 today for and what we're trying to do. Many of you, if
5 not most of you on the committee, have been through
6 these strain selection committee meetings before, so
7 this won't be particularly new to you. But if we go to
8 the next slide.

9 The purpose of the VRBPAC committee discussion
10 today is to make recommendations for the strains of
11 influenza A and B viruses to be included in the 2021
12 Southern Hemisphere formulation of influenza vaccines
13 licensed in the United States. Now those of you that
14 have been through this know that we do this twice a
15 year: One, we do it in usually late February/early
16 March to make recommendations for the Northern
17 Hemisphere, in other words, for our country and for
18 manufacturers in the U.S. But we also do this second
19 version for the Southern Hemisphere, usually about this
20 time every year in either late September or early

1 October.

2 And the reason for this is because since 2016,
3 we've had one U.S. vaccine manufacturer that has been
4 approved to produce the Southern Hemisphere formulation
5 for their vaccine. That is an egg-based vaccine and
6 the reason I mention that is because, just to make
7 things simpler for today, we're only going to focus on
8 recommendations for egg-based vaccines because that's
9 the only thing that is applicable to our discussion
10 today.

11 Just like for our other VRBPAC meetings for
12 the Northern Hemisphere, the strain recommendation and
13 supplement approval for this Southern Hemisphere
14 formulation follows the same process for the Northern
15 Hemisphere. And that's why it's important that we meet
16 so that we have officially the VRBPAC recommendation
17 for what should be in a vaccine made by a licensed U.S.
18 manufacturer. So that's why we're here.

19 What you're going to hear today is a somewhat
20 abbreviated version of what we see for the Northern

1 Hemisphere when we do this in February/March. We'll
2 only have one presentation from Dr. David Wentworth.
3 But what you'll hear is basically the same type of
4 information. He will tell you about the epidemiology
5 of circulating strains from the U.S. as well as from
6 around the world. This essentially summarized from the
7 most recent WHO Southern Hemisphere strain selection
8 consultation.

9 The type of data that will be presented, will
10 concern the antigenic relationships among contemporary
11 viruses in candidate vaccine strains. You'll hear
12 about hemagglutination inhibition and virus
13 neutralization tests using post-infection ferret sera,
14 HI in virus neutralization tests using panels of sera
15 from humans receiving recent inactivated influenza
16 vaccines. I think he will probably present some
17 antigenic cartography as well as phylogenetic analysis
18 from HA and NA genes.

19 In the next three slides, I'm going to
20 summarize the most recent recommendations and

1 discussions that have occurred for influenza vaccine
2 recommendations.

3 About a year ago this time, on September 27,
4 2019, the WHO made a previous recommendation for a
5 Southern Hemisphere for this past summer of 2020. That
6 recommendation was that viruses to be used for egg-
7 based trivalent influenza vaccines in the 2020
8 influenza season Southern Hemisphere or winter, would
9 include an A/Brisbane/02/2018(H1N1)pandemic09-like
10 virus, an A/South Australia/34/2019(H3N2)-like virus, a
11 B/Washington/02/2019-like virus from the B/Victoria
12 lineage. And in addition to those three strains for
13 trivalent vaccines, the WHO recommended that
14 quadrivalent vaccines containing two influenza B
15 viruses contain the above three virus and a
16 B/Phuket/3073/2013-like virus from the B/Yamagata
17 lineage.

18 Shortly after that recommendation, this
19 committee met and made the recommendation for U.S.
20 manufactures of Southern Hemisphere formulations, and

1 that was the same as the WHO recommendation listed
2 above on the slide. That was about a year ago in
3 October 2019.

4 More recently, we had our VRBPAC meeting and
5 WHO recommendations for the Northern Hemisphere for the
6 2021 season, which is coming up on us pretty soon. The
7 WHO recommendation was made on February 28, 2020. And
8 in that recommendation for egg-based vaccine, the
9 following virus for recommended for trivalent influenza
10 vaccines: an A/Guangdong-
11 Maonan/SWL1536/2019(H1N1)pandemic-like virus, an A/Hong
12 Kong/2671/2019(H3N2)-like virus, a
13 B/Washington/02/2019-like virus from the B/Victoria
14 lineage. And again, the quadrivalent vaccines
15 containing two B viruses were recommended to contain
16 those three viruses plus a B/Phuket/3073/2013-like
17 virus from the B/Yamagata lineage. Our advisory
18 committee, the VRBPAC, met and made the same
19 recommendation on March 4, 2020.

20 Now, recently, just a couple of weeks ago, the

1 WHO met -- met virtually this time -- and made a
2 recommendation for the upcoming Southern Hemisphere
3 influenza vaccines for 2021. They made their
4 recommendation on September 25, 2020, and their
5 recommendation for egg-based trivalent vaccines for use
6 for the 2021 Southern Hemisphere were -- these vaccines
7 include an A/Victoria/2570/2019(H1N1)pandemic09-like
8 virus, an A/Hong Kong/2671/2019(H3N2)-like virus, and a
9 B/Washington/02/2019-like virus from the B/Victoria
10 lineage. Again, for quadrivalent vaccines containing
11 two influenza B viruses, these three virus strains were
12 recommended as well as a B/Phuket/3073/2013-like virus
13 from the B/Yamagata lineage virus.

14 Well, that's the most recent WHO
15 recommendation. And, as I mentioned, Dr. Wentworth
16 will go through what was behind the selection of these
17 and recommendation of these vaccine strains.

18 So today, the committee is charged with
19 discussing and making recommendations of the influenza
20 vaccines strains that should be recommended for the

1 antigenic composition of the 2021 Southern Hemisphere
2 formulation of influenza virus vaccine produced by
3 licensed U.S. manufacturers.

4 To keep it simple, we're going to ask for two
5 voting questions: One will be a vote on the composition
6 of egg-based trivalent vaccines for the Southern
7 Hemisphere formulation shown at the top of the slide.
8 We're not going to go through these A, B, and C. We're
9 just going to take one vote for the composition of the
10 trivalent vaccine as shown here, and then a second vote
11 for the quadrivalent Southern Hemisphere formulation to
12 include the B/Phuket strain. And that's really all
13 that I wanted to say for the introduction unless anyone
14 has any specific questions.

15 **DR. EL SAHLY:** Thank you, Dr. Weir. Any
16 questions for Dr. Weir? Can you hear me?

17 **DR. MEISSNER:** Yes. Cody Meissner. Can I ask
18 a question?

19 **DR. EL SAHLY:** Absolutely.

20 **DR. MEISSNER:** Thank you for that

1 presentation, Dr. Weir. I just wanted to clarify why
2 we're only focusing on egg based. Is that because the
3 cell-based vaccines, the recombinant vaccine, and the
4 live-attenuated vaccine, will not be available in the
5 Southern Hemisphere?

6 **DR. WEIR:** Well, they're not available from
7 U.S. manufacturers. Okay, the only U.S. manufacturer
8 that has a license to make a Southern Hemisphere
9 formulation is an egg-based vaccine, so I thought it
10 would just be easier to just focus on the egg-based
11 recommendations.

12 **DR. MEISSNER:** Thank you.

13 **DR. WEIR:** Because that's the only one it
14 applies to.

15 **DR. MEISSNER:** Thank you.

16 **DR. EL SAHLY:** If you have additional
17 questions, please use the Raise the Hand feature on
18 your Adobe meet for Dr. Weir.

19 Okay. Seeing none, our next presenter is Dr.
20 David Wentworth. Dr. David Wentworth is the Director

1 of the WHO Collaborating Center for Surveillance,
2 Epidemiology and Control of Influenza, Chief of the
3 Virology Surveillance and Diagnosis Branch Influenza
4 Division at the Centers for Disease Control and
5 Prevention. And he's going to educate us regarding why
6 this decision is put forth on the table regarding these
7 strain selections. Dr. Wentworth.

8

9

WORLD SURVEILLANCE

10

11 **DR. WENTWORTH:** Thank, Dr. El Sahly. I'm
12 going to move right off of this title slide into this
13 slide. As Dr. Weir just mentioned, we just finished
14 our WHO Influenza Vaccine Consultation meeting. And
15 this is really a meeting that we gathered data that's
16 on the backbone of the Global Influenza Surveillance
17 and Response System, or GISRS.

18 And a number of groups get together. The six
19 WHO collaborating centers collect a lot of data that
20 they've generated or have collected from National

1 Influenza Centers, which are called NICs, or WHO
2 essential regulatory laboratories like the FDA, and H5
3 reference laboratories. These are the zoonotic groups
4 that study all the zoonotic viruses that we're
5 concerned about for pandemic preparedness. And I won't
6 go into detail about that today.

7 As Dr. Weir just mentioned, we have these
8 viruses that were selected, and he went over those very
9 well. I am going to focus today -- because the real
10 difference between this, our recommendation, and the
11 Northern Hemisphere recommendation that we just
12 previously discussed about six months ago, is the H1N1
13 recommendation. So I'm going to spend more time on the
14 H1N1 viruses than on the other ones. But I will give
15 you some brief information about why they were kept the
16 same for the Southern Hemisphere.

17 Okay, this slide illustrates the number of
18 specimens processed by the GISRS, or the Global
19 Influenza Surveillance and Response System for the past
20 number of seasons, so since 2017. You can see the

1 2017's a green line, 2018's the blue line, 2019's the
2 black line and 2020 is the red line.

3 And what you can see is that it was having a
4 pretty normal number of specimens processed from Week 1
5 through about Week 10. But then we actually saw an
6 increase in the number of specimens being processed by
7 our GISRS laboratories. This is in large part due to
8 many of these laboratories added SARS coronavirus-2
9 testing in response to the COVID pandemic around Week
10 10. So you can see that spike up and then it stayed
11 higher. And that's for, one, illustrating the level of
12 work that they are doing. And two, illustrating that
13 this GISRS system is very useful in pandemic settings.
14 And it has been -- it was very helpful in the 2009
15 pandemic as well for flu.

16 This slide illustrates the circulation of
17 influenza viruses by hemisphere from 2019 to 2020.
18 Hopefully, you can see on your slide -- it's a little
19 small on my screen -- but this is going from the weeks
20 of the year of 2019 through 2020, so about Week 36 to

1 38 or so along the bottom of that graph.

2 And what you can also see there is the
3 Northern Hemisphere's on the left and the Southern
4 Hemisphere is on the right. The B viruses are the
5 orange bars, and the A viruses are the blue bars. What
6 we saw in the Northern Hemisphere was a fairly normal
7 season, but then it actually dropped off very rapidly
8 after Week 10. Again, that's where I've put a dashed
9 line in the slide here illustrating when that was and
10 when the COVID pandemic really started to kick in. And
11 then a number of mitigation strategies started to kick
12 in and then it did impact influenza positivity rates in
13 the U.S. for sure. And so the virus dropped more
14 rapidly.

15 And you can see on the Southern Hemisphere on
16 the right, we've had very low levels of virus
17 circulation over our summertime months, which is rather
18 unusual. Usually, we see more viruses than that. The
19 other thing is that you can see in this slide -- I
20 probably will go into more detail later but -- with the

1 B viruses, the B/Victoria viruses predominated much
2 more over the B/Yamagata virus which have been low for
3 the past couple of seasons. And the H1N1 viruses
4 predominated over the H3N2. And that's a little easier
5 to see here where 70 percent of the viruses that
6 circulated were influenza A viruses, with the majority
7 of the influenza A viruses being the H1N1 viruses over
8 the H3 viruses.

9 And then with the B viruses, the majority of
10 those were B/Victoria viruses. And this really doesn't
11 represent that well. And I'll go into more detail when
12 I discuss the B virus, but they're the large majority
13 over the B/Yamagata viruses.

14 So now I'm going to give you some details
15 about the characterization of the (H1N1)pdm09 viruses.
16 This really includes data from February through August
17 so our most recent data. And sometimes we include more
18 historical data for context so that you can see where
19 we're getting some of our information from.

20 This slide illustrates the geographic

1 distribution of H1N1 viruses globally. And the color-
2 coding on the countries and on the regions is, as you
3 get to the warmer colors, it increases the positivity.
4 So the very light yellow is the zero to five percent
5 positive -- the key is down on the left over here --
6 whereas the red is 30 percent positive. And what you
7 can see is that some countries, for example, in North
8 America, the U.S.A. and in Africa, like Algeria, and
9 Europe including Spain and Belgium, Netherlands,
10 Ukraine reported high H1 activity during this period.

11 This slide focuses specifically on the H1N1
12 viruses detected by the GISRS system since 2017 so the
13 past four years. You can see the red line is 2020. We
14 had a modest year compared to 2019, but a pretty
15 regular year compared to some of the other years like
16 2018. And then it pretty rapidly declined and has
17 stayed very low since that timeframe, okay. So around
18 Week 14, you can see it's been very, very low and even
19 lower than we've seen in the past few seasons.

20 This slide illustrates the phylogenetics of

1 the hemagglutinin molecule, and it does a little more
2 than that. It's a very high-level slide, so you can't
3 see some of the details about the viruses. But I know
4 many of you are really well aware of how this works,
5 but I'll just briefly introduce that fact that each of
6 these little black lines here -- you can't really make
7 out -- are individual viruses over time. The evolution
8 comes down this tree instead of going up trees;
9 sometimes the evolution's going up trees. But you can
10 see here, these are the most evolutionarily advanced
11 viruses down at the bottom of this tree.

12 What we have on the right-hand side is a heat
13 map indicating the timeframe. So these are viruses up
14 here at the top of this heat map from 2018 timeframe,
15 those in the middle will be 2019, and those towards the
16 right-hand side are 2020. So you can see how the
17 viruses are evolving through time. And then the color
18 coding is listed here as to which region of the world
19 they are from and also shown graphically below that.

20 So the most recent viruses that are

1 circulating currently -- if you remember in 2019, we
2 had many clades cocirculating. And these were all
3 clade 6B.1A -- HA -- molecules, but they range from
4 subclades one through seven.

5 And what we've seen is a contraction to really
6 three major subclades, the 6B.1A7s which are here at
7 the top. Really the color coding over here shows you
8 that they're really in North and South America.
9 They're in the blue hues, and that's where we're
10 detecting that subclade primarily. And the same is
11 true for the 6B.1A5B subclades, so I'll call them for
12 short 5B periodically and clade 7, subclade 7, subclade
13 5B. And then the vast majority of viruses circulating,
14 particularly those circulating recently, are in this 5A
15 subclade, which first emerged in 2019. Well, it's been
16 around since 2018, but really started to dominate
17 globally in 2019.

18 Then when we met last time and then after
19 that, you can see this 5A subgroups that are called 5A-
20 187 at the very bottom of this tree here -- these

1 viruses all in this range -- and 5A-156K. You can see
2 how that the 187s really emerged first to dominate and
3 spread globally. And then we started to see more of
4 these 5A-156 viruses that are more in the blues as you
5 can see that more in the United States and in Canada
6 than in other regions of the world but started to
7 disseminate further to other regions of the world. And
8 so I took time on that because I'll be talking about
9 these 5A groups in particular quite a bit.

10 The other small thing I wanted to point out is
11 this position 156, which you can see is part of this
12 subgroup of 5A viruses. Well, we've seen it before,
13 this mutation occur. This occurred in late 2018 but
14 didn't take hold. These viruses, we could tell, were
15 antigenically distinct, but they weren't very fit or
16 successful in the context of that HA that they were in.
17 And at almost around the same time, a group of 156D
18 substitutions -- so the same amino acids position --
19 existed, and this was in the U.S. primarily but also
20 were not successful. But these 5A-156 viruses, you can

1 see how they first emerged really in late 2019 but then
2 started to become very successful.

3 This slide illustrates the clade distribution
4 globally, and it's based on sequence availability just
5 in the data base. And what you can really take away
6 from this slide is that the 5A viruses, which are the
7 yellow and the red and the orange, really dominate the
8 global picture at this point in time.

9 You can also take away that there are regional
10 differences with places in Africa having some more of
11 the progenitor 5As than anything else and not having
12 many of the 156K viruses, but they have the 5A-187
13 viruses. Whereas, the U.S. has a lot of the 5A-156
14 viruses and 5A-187s but not many of the progenitors.
15 That's also true in Australia. In Europe, it's more of
16 a mixed bag where some countries having primarily 5A-
17 187 and others having a 5A-156.

18 This slide illustrates the clade proportions
19 in a little bit different way and follows it through
20 time on the x-axis. So where I have that arrow

1 pointing now is North America, but then you have
2 Oceania, Japan, Europe, East and Southeast Asia, okay.
3 The most recent time point is on the right-hand side of
4 these graphs, and so if I bring you up to North
5 America, what you can see here is clade turnover over
6 time. And so these light colored clades, again, are
7 the 5A viruses.

8 And it's maybe a tad difficult to see, but at
9 the top of that or in the middle of that are the 5A
10 viruses. And what you can see towards the far right-
11 hand side is the blue section, these are the 5A-156
12 viruses. In this red section at the bottom are the 5A-
13 187 viruses. What you can see is that they're both
14 increasing and pinching out the progenitor viruses that
15 existed prior to that. And this is happening
16 consistently, and in like Oceania it's the same
17 situation. In Japan, really not very many of the 156K
18 viruses and the 187s really rose rapidly, but then it
19 started to level off and actually decrease as the 156K
20 have increased.

1 And so you can see the viruses are in
2 competition with each other and with humans. Europe's
3 a bit of different story with very few of the 156
4 viruses around, and much more of the 187s displacing
5 the older 5A progenitor clade. So that's the story
6 there and this is complementary of Trevor Bedford and
7 Richard Neher at Nextstrain, who are some of the
8 fitness forecasting gurus that also participate in the
9 meeting.

10 Now I'm going to tell you a little bit about
11 the changes in these viruses. So I told you about
12 their genetics and what we call them. On the left-hand
13 side of this slide, you can see the hemagglutinin
14 monomer. I'll put the arrow right over the top of it.
15 This is an x-ray crystal structure of one monomer of
16 the hemagglutinin. Now the hemagglutinin is a
17 trimeric-formed molecule, so three of these come
18 together to make a single hemagglutinin molecule on the
19 surface of the virus. And there's about 400 of these
20 on every particle -- primers I should say.

1 But each of these can be indicated by specific
2 antigenic sites which have been mapped with monoclonal
3 antibodies, so we have antigenic site-Sb up here at the
4 top in kind of the purple color. That circle
5 represents the receptor binding site, so that's where
6 the host cell receptor and the virus connect. And so
7 that's where the virus attaches to the host cell.

8 And then antigenic site-Sa, another major
9 important site, is over here in the tan color. There's
10 also these other antigenic sites-Ca and -Cb, and
11 they're color coded in green and yellow, respectively.

12 And then on the right-hand side of the slide
13 what I've tried to indicate is the evolution of the
14 virus over time. So first, I mentioned we have the
15 6B.1A one through seven subclades. And they all had
16 acquired mutations from what previously existed in
17 these light blue sections. So the I295V, the S74R, and
18 the S183P and this S183P being the dominant thing that
19 really collected all these groups of viruses together.
20 This happened through parallel evolutions, so multiple

1 branches on the tree all acquired these substitutions
2 and then these viruses dominated. And that's what gave
3 us the one through seven subclades.

4 Then, after that, we had that 5A group emerge,
5 and so those are the blue amino acid changes. And so
6 they're on top of these light blue changes. We have
7 N129D shown here up near the head of the molecule,
8 N260D and probably the biggest one, the T185I, which is
9 up here in site-Sb. Okay.

10 And then beyond that, that 5A group split into
11 two groups. It either split into the 187A group, which
12 are shown here in green. And so you can see the
13 pressure on the virus really in this space if you
14 really think about it every two amino acids. First, it
15 was S183P, then T185I, then D187A, and Q189E. And I'll
16 show you a little different view of where those are in
17 a minute.

18 And then instead of the green substitutions
19 that are listed there, the 156K clade had these two
20 substitutions in red. The 156K, the K130N, and then

1 over here the L161I, which is in the base of site-Sa.

2 So we have evolution of the virus really in
3 two major antigenic sites that are different from each
4 other. The one group of viruses really impacting the
5 site-Sb, and another group of viruses appear to be
6 escaping our immunity by mutating in site-Sa. It's
7 quite a difficult system right now to deal with.

8 This slide illustrates looking down at the top
9 of that trimeric molecule. So now each of those
10 monomers have come together in the center. And you can
11 see how maybe an antibody will try to bind to the head
12 of this molecule. And where all those substitutions
13 are appearing, and how they could negatively impact
14 that previous or prior immunity. I won't belabor that
15 slide. I just went through all those substitutions.

16 But you can see that over the course of a
17 couple of years now, quite a few changes have occurred
18 on the head of the hemagglutinin molecule. And they're
19 all conserved in the 5A viruses. The biggest
20 difference between the 5A-187 viruses, and the 5A-156

1 viruses, are the orange dots and the red dots.

2 And now I'm going to turn your attention to
3 the reactivity pattern they have, as Dr. Weir
4 mentioned, looking at antigenicity. So we look at this
5 by immunizing animals with different viruses and, in
6 this case, we're looking at Southern Hemisphere
7 recommended for the 2020 viruses, the Brisbane/02-like
8 viruses, and how well antisera to those viruses react
9 with viruses that are circulating. This is done by the
10 different WHO Collaborating Centers. It really shows
11 the summary of it all is that about half of the
12 viruses, or a little over half of the viruses, are well
13 recognized by that antisera. But 40 percent or so are
14 considered low, so they have eight-fold reductions in
15 their homologous titers.

16 You can see it differs by different centers,
17 and that's not really because the assay is different,
18 it's in parts. So look at the CDC versus CNIC, which
19 is the China National Influenza Center. Remember I
20 showed you that China didn't have very many of those

1 156K viruses, and that's why they're having a high
2 reactivity with these Brisbane-like 2 viruses.

3 The panel on the right really just shows the
4 egg antigen so that we have a cell antigen and the egg
5 antigen, so you can see the difference there. And here
6 we don't see much of a difference between those two
7 antigens and their ability to induce immunity that
8 protects against the circulating strains.

9 And then instead of going by center, at the
10 bottom of this slide, I've showed just the ferret
11 antisera to the Northern Hemisphere summaries, and as
12 Dr. Weir mentioned that Northern Hemisphere egg virus
13 was (inaudible) Guangdong-Maonan/1536/2019. Again, we
14 see a very similar pattern with about 60 percent or so
15 being considered like the vaccine virus, and 40 percent
16 low to that vaccine virus antigen.

17 Here is a more in-depth phylogenetic analysis
18 of -- so it's a little bit more granular of the
19 (H1N1)pdm09s. What we're doing here now is integrating
20 the antigenic information on top of the phylogeny by

1 color coding. And so you don't have to be able to read
2 much about this chart, but what you can see is this top
3 part of the tree, these are the 156K viruses that I
4 mentioned, and the bottom part of this tree are the
5 187/189 group of viruses.

6 And then the color coding on the tips of this
7 tree is their reactivity to a Brisbane/02-like antigen.
8 So you can see that all of these 187 viruses react very
9 well, and the 156 viruses are low. So, as you get to
10 the hotter colors, you have a lower homologous titer.
11 So it's a more reduced reactivity pattern or they're
12 less well inhibited by antisera against the main
13 vaccine prototype.

14 The heat map on the right shows many different
15 sera, which you probably can't read the specifics about
16 them, but one of the key sera here would be right about
17 here right at the end of that pointer. If you follow
18 that up, that's the Hawaii/70 sera, so that's the cell
19 prototype for our current vaccine that we're getting
20 this fall. And you can see it works very well against

1 the 5A/5B viruses, that clade seven viruses. Where it
2 starts to fall off here towards the top half is when
3 you get into the 156K viruses, so it has a similar
4 pattern as we see with the Southern Hemisphere vaccine
5 selection, the B/Brisbane.

6 Now this analysis here, this is antigenic
7 analysis. So now this is getting into some very grainy
8 detail showing you actually hemagglutination inhibition
9 data. And again, maybe in this format, it may be
10 challenging to see some of these titers, but I'll walk
11 you through this pretty rapidly. What you can see on
12 the far right-hand column here, this is antisera to the
13 older vaccine virus California/07. So this was the
14 first vaccine against the H1N1 pandemic that occurred
15 in 2009.

16 And then the next one over is a cell antigen
17 for Brisbane/02, and you can see that its titer is
18 1280. When you get down to these test antigens, if
19 it's yellow, that's considered good, it's within the
20 four-fold of that homologous titer. Then, as you get

1 into the hotter colors like the darker the orange, the
2 dark orange is eight-fold, and the red is greater than
3 eight-fold. And so you can see these are all greater
4 than eight-fold. They are sliding as you go down that
5 column.

6 The clade of these viruses is shown in the
7 column to the left of that in which you can see is
8 these top yellow ones are the 5A and the 5A-187 group,
9 whereas the 5A-156 are escaping that antisera.

10 This next one over, these are 5A-187 viruses
11 used as antigens, and this one that I highlighted is
12 the egg, it's the Guangdong-Maonan virus. And again,
13 you can see the same pattern. And Victoria/2454 virus,
14 which has the same pattern.

15 And then we get into the 156K antigens like a
16 Victoria/2570 cell and egg pair. And this is data from
17 the CC at VIDRL in Melbourne, Australia. You can see
18 how it is the opposite pattern where now the 187s
19 aren't protected as well, and the 156s are inhibited by
20 antisera's (inaudible) virus.

1 And then the final thing I'll show you -- or
2 point out to you -- is the very last column. This is
3 human sera that's pooled from post-vaccination sera
4 from Australia -- so from people in Australia. And
5 what you can see is that sera is reacting better to
6 these the 5A and the 5A-187 viruses than it is to 156
7 viruses.

8 This illustrates pretty much the same thing.
9 Part of the reason I have it in here -- and it is maybe
10 not as germane to our discussion today. But it shows a
11 cell-prototyped vaccine candidate for the 5A-156 group
12 called Wisconsin/588. You can see here how it has a
13 nice reactivity pattern, again, not working so great
14 against the 187 viruses, the 5A-187 viruses, but
15 working very well against the 5A-156K viruses. And
16 again, not covering the 5B or the subclade seven
17 viruses, which are in much lower proportions.

18 And then next to that is a qualified
19 manufacturing cell isolate that could be used for that
20 vaccine, Delaware/55, and it has the exact same

1 pattern.

2 Okay. So something may be a little bit easier
3 to see on our small screens here is antigenic
4 cartography as Dr. Weir mentioned. I will be showing
5 you some of this as well. So this is a way to display
6 cartographically that same HI data and collected at
7 multiple timepoints. What we're showing you here are
8 the color-coded dots are viruses that have been
9 collected in the past 12 months, and their relationship
10 to each other and to sera generated against antigens.

11 So down in the center of these cartographs is
12 the Brisbane/02 egg virus. And so you can see a line
13 pointing to that. That's right here kind of in the
14 center of this cluster. And so anything within four
15 squares of that is covered very well by antisera
16 generated to that antigen.

17 And then we have Hawaii/70, so that's the
18 Northern Hemisphere recommended vaccine, which again in
19 ferrets, if you remember right, doesn't appear very
20 different than the Brisbane/02, but we know in humans

1 it does. But ferrets do see this site-Sa very well,
2 and what you can see is when we make antisera to sera,
3 for example, the Victoria/2570 egg, this is the named
4 vaccine candidate that was named by the WHO. That's
5 shown right here in the egg-shaped, the large egg-
6 shaped dot. And then the Wisconsin/588 cell is shown
7 in the round dot.

8 So you can see those two are very close to
9 each other and cover that 156K group of viruses very
10 well. And that's basically the same thing as seen in
11 Melbourne with the antisera that they have. Remember
12 the U.S. and Melbourne had more of these 156K viruses
13 to test as antigens.

14 Now, I'm going to turn your attention to post-
15 vaccination human serum and that analysis. So we had a
16 number of serum panels, the most serum panels really
17 dedicated to H1 because human sera really recognizes
18 differences in the H1 that sometimes the ferrets don't
19 pick up. And so this is where you can see the
20 divergence between ferrets and humans pretty readily.

1 So these panels are by age, and I'm not going
2 to go through all the details but we have basically
3 from 6 months old all the way through greater than 65
4 as you go down this graph. The vaccine virus is this
5 kind of virus, this Idaho/07 or Brisbane/02. They're
6 basically the same, one's the egg and one's the cell.

7 You can see the antisera generated when people
8 were vaccinated with an Idaho/07-like virus, reacts
9 very well with those viruses that are the 6B.1A viruses
10 that had that 183P substitution. So you can see how
11 well that vaccine does in creating antisera in all the
12 age groups that block it.

13 Now, when we go to the 5A-187 group, you start
14 seeing these warmer colors again, and this is bad news
15 where we have the geometric mean titers getting low and
16 becoming significant with the 90 percent confidence
17 interval. And so you can see that with the 187
18 viruses. It's even more stark with the 156K viruses
19 with many now being in the red, having really
20 significant reductions in their geometric mean titers.

1 And the specific titers are indicated at the numbers in
2 these squares, but that's not really critical. You can
3 just use the heat map to understand this.

4 And this is occurring in virtually all panels
5 in all age groups with the 156K viruses. And, for
6 completeness, we included the 5B virus which also has
7 shown some reductions in human sera previously, and you
8 can see that does as well. And so all these clade five
9 viruses are more closely related to each other than the
10 earlier viruses that are doing the (inaudible) here.

11 Now, I'm going to show you this is the same
12 type of analysis, but now using the egg-propagated
13 antigen as the comparator. You can see, basically,
14 it's a similar pattern except the egg antigen generates
15 sera; or when we compare to the egg antigen, we see a
16 few of the groups now lose some of their reactivity.
17 And some of the reactivity gets worse with some of the
18 other groups. But there's really not a huge difference
19 between those two.

20 So to summarize the H1N1 section, these

1 viruses predominated in most countries in the Northern
2 and Southern Hemispheres, and this included parts of
3 Europe, North America, Asia, and Africa. The HA gene
4 sequences all belong to this 6B.1A large clade, and
5 they have subclades 5A, 5B, and 7 that are all co-
6 circulating in different regions around the world and
7 some co-circulating within the same countries.

8 The majority belonged to this 5A group or a 5A
9 subclade, and that's further diversified into two
10 subgroups that we are calling the 187A subgroup, which
11 have these substitutions D187A and Q189E right in site-
12 Sb as I showed you on the molecule. And the 5A-156K HA
13 group, which have substitutions at 156K, L161I, K130N,
14 and V250A, as well as a substitution in the HA2 that's
15 unlikely to impact antigenicity. And the 156K and
16 L161I are in that site Sa, which is up near the head of
17 the molecule and near the receptor binding pocket.

18 So ferret antisera to these reference
19 (H1N1)pdm09 viruses like the Brisbane/02 vaccine strain
20 well recognized most of the circulating viruses --

1 actually the majority -- except those with HA subclade
2 5A-156K.

3 Now the post-vaccination sera collected from
4 people vaccinated from the Northern Hemisphere 2019-
5 2020 vaccines showed that the GMTs against -- the
6 geometric mean titers, sorry for the acronym -- against
7 viruses representing the various HA groups as I pointed
8 out -- the 187A, 156K, and subclade 5B -- they were all
9 significantly reduced. And this occurred in most of
10 the panels, more significantly typically in the younger
11 age groups.

12 The 5A-156K viruses had the lowest GMTs among
13 all the viruses tested. I'm sorry, I don't know why
14 that arrow sometimes shoots up there. The clade
15 specific vaccine effectiveness estimates from 2019 and
16 '20 were better for -- I didn't show you this data, but
17 it's just a brief point -- that we saw better VE
18 against the 187A viruses, particularly in the U.S.,
19 with the VE group here than in the 5A-156K viruses.
20 And we have a lot of both of those viruses for

1 comparisons, so that worked out pretty well.

2 Of the 1382 viruses analyzed, 11 showed
3 reduced susceptibility to one or more of the
4 neuraminidase inhibitors, and we analyzed as well
5 subsets of viruses for their susceptibility to
6 baloxavir, which blocks a different protein -- the PA
7 protein -- activity of the virus, and that also all
8 looked good. So, from the drug standpoint, all the
9 antivirals for the most part are working pretty well.

10 Now, I'm going to change to H3N2 viruses.
11 This slide is -- now I don't have to explain it to you
12 -- but it illustrates where H3N2 was circulating
13 globally. And you can see there was quite a bit of
14 activity in Europe and in parts of Africa. And we had
15 quite of bit of H3 in some countries in Europe such as
16 the U.K.

17 This slide illustrates the number of viruses,
18 the H3N2 viruses, detected since 2018. It's a pretty
19 similar pattern, in the red as you can see for the
20 H1N1s, where more were detected in the early parts of

1 this year and it fell off pretty rapidly.

2 This slide is now the phylogenetic analysis.
3 Again, very high-level view of it, and I walked you
4 through what these maps are like. The older viruses,
5 which still continue to circulate in some parts of the
6 world are these 3A viruses up here. And you can see
7 that really were only in Europe in the most recent
8 circulation pattern.

9 And these did really start off in the United
10 States with our bigger epidemic a couple of seasons
11 back. And they're still around and still something we
12 keep our eye very closely on because they're
13 antigenically very different than all -- you can see
14 this big gap here between the group here; so up here at
15 the top, this gave rise to all the 3a viruses.

16 And this branch here gave rise to all the 2a
17 viruses. And the 2a's have some subsequently evolved
18 into multiple groups, 2a2, which really are no longer
19 in circulation. And now the biggest group that we're
20 tracking are the 2albs. And these have subdivided into

1 two groups, the 135K viruses and the 131 viruses, which
2 continue to diversify into these other groups such as
3 the 137F -- I will just shorthand named that virus
4 periodically -- and the 197R in the 131 groups.

5 So the H3s tend to be the most dynamic HA
6 evolution and are hardest for you all to keep track of
7 these crazy acronyms. But it's our system that we have
8 to got to have some kind of language to speak to each
9 other about it.

10 This slide, the take home from it really is
11 you can see the various colors. We really have a
12 geographic distribution of the clades that are co-
13 circulating. And then you can kind of just draw a
14 Northern Hemisphere and Southern Hemisphere in your
15 mind, looking at the picture and see how there's just
16 so many of these 3a viruses, which are the red viruses,
17 that circulated in Europe.

18 But many, many more of the 2a1b 153K viruses
19 and their descendants such as the 135K, 137Fs and the
20 135K 186Ds, which are the dark green and blue dots.

1 And so these are the latest emerging subclades out of
2 those 2a1b viruses, and they're very different, very
3 distinct from the 3A, which are these red pieces of the
4 pie. And the oldest viruses are these yellow viruses.
5 They're kind of a plain Jane 131Ks that our vaccines
6 used to contain.

7 Okay. And the 131K that I just called the
8 plain Jane, that was the 2020 vaccine reference virus.
9 It's called South Australia/34. You can see in the
10 different centers how the cell-like candidate did
11 against the viruses that were circulating with 83
12 percent of them being considered like that vaccine
13 virus and 17 percent being considered low.

14 When we get to the egg, there was a much worse
15 phenomenon happening here because the egg-adapted
16 substitutions at H3 can have more pronounced impact on
17 the antigenicity of those viruses. So you can see only
18 two percent were considered like and 98 percent are
19 considered low.

20 Now, we're looking at the reactivity patterns

1 to Northern Hemisphere 2020-2021 vaccine viruses, so
2 this is in this 2a1b 135K, 137F group. So it's moved,
3 as Dr. Weir pointed out, to Hong Kong/45. So this is
4 actually a difference for the Southern Hemisphere
5 recommendation than their last recommendation, but the
6 same recommendation as for the Northern Hemisphere. So
7 what you can see here, again, is the like with 53
8 percent of the total being considered like and 47
9 percent being considered low. And the egg differences
10 not being quite so severe as was seen with the South
11 Australia/34 virus, but still seeing reductions
12 compared to these cell viruses.

13 This shows you the antigenic cartography. I
14 think it's a little bit easier to understand than that
15 very high-level view of what percentage of this virus
16 is circulating are considered like and low over this
17 time period. What we're really doing is looking at the
18 most recent emerging clades because, of course, we're
19 picking or selecting a vaccine from six months in
20 advance. And so we have to look at these emerging

1 clades, which in this case are the purple dots.

2 These vaccine viruses shown here is the egg
3 and the cell and cartographic data from Melbourne, the
4 Melbourne CC. They're here, and they are covering
5 quite well. These viruses that are purple as well as
6 some of the blue viruses, which are this other subclade
7 emerging from the 135K group. This is pretty
8 consistent with data from the CC in London with the
9 Francis Crick Institute.

10 You can see these three major groups. The
11 older viruses, they're like South Australia, they're
12 all kind of packed together here in this middle
13 section. And then some of the newer subclades being
14 the purple 135K viruses. And then that 3A group that's
15 so antigenically different that was in our previous
16 vaccine being the bright green viruses.

17 This slide, I haven't shown you one like this
18 before, but this is a bit of a heat map of the
19 phylogenic tree. It's done by some of our fitness
20 forecasting partners. This is done really by Michael

1 Lassig and Marta Luksza, who collaborate on this
2 fitness forecasting. And what it really shows --
3 again, are may be a little bit hard but -- the Kansas-
4 like virus -- that's the 3a or at the top of the tree -
5 - and some of the other evolution of the 2a viruses are
6 going down this tree to the very bottom here.

7 And what's pointed out right in this square
8 box and at this black dot is the cell version of the
9 Northern Hemisphere recommendation Hong Kong/45. And
10 then right here is the Hong Kong/2671, the egg
11 candidate. So what they're showing here is the deeper
12 reds are those that are considered more fit, and they
13 have a higher likelihood of success in our population
14 according to this fitness forecasting model.

15 And then overlaid on that on this tree on the
16 right -- overlaid on the tree -- is antigenic analysis.
17 So when you take antisera created to, say for example,
18 the Hong Kong/45 cell, how well does it cover all those
19 viruses circulating? So it does very well. It stays
20 in these yellow, the titer reduction, yellow to orange

1 range for all these viruses tested that are co-
2 circulating until you get to those 3a viruses, which
3 are red and so antigenically distinct.

4 This slide illustrates some other fitness
5 forecasting. Now this is done by Trevor Bedford and
6 Richard Neher and their colleagues at the Nextstrain or
7 NextFlu. You can see how, because of this bottleneck
8 in 2020, we had all our mitigation strategies against
9 COVID pandemic, and they are impacting influenza and
10 decreasing its circulating. So it's a really strange
11 year for fitness forecasting folks, because they don't
12 have really very much data to go on in the most recent
13 viruses. There are so few.

14 And so, if you look at it in one sense, if you
15 look at the observed is in blue and then the predicted
16 is in orange or green. And so if you predict it using
17 one model, you can see that one group's expanding like
18 the 131K. These are, I consider, the older viruses.
19 But if you look at it in another model, such as the
20 green here, the 135K group seems to be winning.

1 And so we really have our three major groups,
2 the 135K, which is the clade of the previous
3 recommended Southern Hemisphere vaccine. The 135K,
4 which is the clade of the current recommended Southern
5 Hemisphere vaccine that you're thinking about today.
6 And the 3A, which was in previous recommendations of
7 the Northern Hemisphere vaccine over here. So
8 difficult to predict and probably multiple things will
9 co-circulate is what we all, I think, agree upon.

10 So that brings us to human post vaccination
11 sera. Here we're looking at sera from the Southern
12 Hemisphere from Australia and Peru in adults and
13 looking at compared to the cell geometric mean titer.
14 And you can see that the 131Ks, that antigen is covered
15 pretty well even by South Australia sera. We start to
16 see reductions when you get into these 135K, 137F
17 group, which is the current vaccine group. And more
18 significant reduction on a very small number of viruses
19 that have evolved an additional substitution there at
20 144, and then this other group here, this 135K major

1 subclade and the 186 substitution.

2 So we like to look at very specific
3 substitutions in each of these clades to see which ones
4 have the biggest effect. But you can see that human
5 sera currently doesn't protect as well against any of
6 those groups and, in this case, does poorly against the
7 3a. The Northern Hemisphere sera did very good against
8 the 3a groups and better protected against the 131
9 groups, and I showed you that the last time we met.

10 For the H3N2 summary, these viruses collected
11 February to August 2020 continued to show regional
12 heterogeneity. The HA subclades 2a1b viruses have
13 predominated in most countries, while clade 3a viruses
14 predominated in just some countries in Europe.

15 The 2a1b viruses fall under two major
16 subgroups that we're kind of calling the 131K and 135K
17 groups. Then each of these can be broken down in more
18 detail, but they are the major subclades. And with the
19 135K, we have this one 137F group, which is where our
20 vaccine sits that's recommended, and that is being used

1 in the Northern Hemisphere this fall. And we have this
2 other minor subgroup, this 138 group, that emerged
3 slightly after this 137 group. Some of them share the
4 same exact substitutions, for example, this F193S.
5 It's in both of those, and that's in a major antigenic
6 site. And we do see cross protection of those with
7 ferret antisera, so that's good.

8 For antigenic characterization of the H3N2,
9 the HA clade 2alb virus subgroups were antigenically
10 distinguishable with ferret antisera raised to the 131K
11 egg-grown viruses inhibiting few of the recently
12 circulating viruses very well. However, the ferret
13 antisera raised against the 135K virus with the
14 additional substitution at the 137F, 138S, and the 193S
15 inhibited the major of the recent viruses. And it
16 inhibits the 135K viruses, which are these emerging
17 clades at the bottom of the trees, better than the
18 older 131K groups.

19 The ferret antisera to the 2alb viruses here
20 on this slide, poorly inhibited 3a viruses. And ferret

1 antisera 3a viruses poorly inhibits all the 2a viruses.
2 So that's showing clear antigenic distinction between
3 these two co-circulating groups. The 3a viruses remain
4 antigenically similar to the Kansas/14, the older
5 vaccine strain used for the Northern Hemisphere. And
6 human serology studies using serum from people
7 vaccinated with South Australia/34-like virus
8 illustrated that the geometric mean titers of the most
9 recent representative cell-propagated viruses, from all
10 the genetic groups, were significantly reduced relative
11 to the egg-propagated South Australia-like viruses, and
12 to varying degrees relative to the cell-propagated
13 South Australia-like viruses. And this was
14 particularly true for those that are in that 135K
15 subgroup, like the Hong Kong/45.

16 Okay. Now I'm going to turn to influenza B
17 viruses, and I'm going to be a little bit more brief on
18 some of these. It's pretty good news here. We did see
19 a lot of influenza B activity, particularly the
20 B/Victoria virus as I mentioned earlier. And you can

1 see that here, and this was global.

2 This shows you the B viruses detected, again
3 very similar pattern. But you can see how high the
4 peak in 2020 was and the peak in 2018 was in the
5 beginning of the year.

6 This shows you the B distribution. And I
7 wanted to make this point better because none of the
8 other slides illustrated this as well. And with
9 certainly our genetic data, and the viruses that we're
10 getting in the United States clearly indicate this.
11 That we're really seeing a very small minority of B
12 viruses that are B-Yamagata lineage. So, of the two B
13 lineages, 98 percent are the B/Victoria viruses. And
14 this shows on this side over here, the regionality to
15 it where most of the Yamagata we're seeing are more in
16 South America, but it's still not huge numbers.

17 So I'll take you through the B/Victoria
18 viruses now. This is the phylogenetic tree. Virtually
19 all the viruses of recent times are in this group. So
20 the long story is the HA that existed back in this

1 timeframe, in 2018, didn't have a deletion in the
2 hemagglutinin. So, rather than a substitution, these
3 viruses evolved two different deletion series. One
4 here which we call the double deletion mutants. So
5 this is like our Colorado vaccine that we had
6 previously. It had a two amino acid deletion at 162
7 and 163, so you can see how this would alter the
8 antigenic makeup of the virus and it did.

9 And then we had the more recent viruses that
10 all belong to this branch here, and they are the Delta
11 162 to 164. So now it's the exact same amino acid
12 positions, but it's one additional amino acid deletion
13 in that group of viruses. You can see by the dashed
14 color coding that they are globally spread, and
15 virtually all of them are in that category that what we
16 call sometimes for short, the triple deletion category.

17 And that is what is in the Southern Hemisphere
18 2020 vaccine and what is being recommended for 2021.
19 And it's the B/Washington/02-like viruses that are the
20 cell and the egg counterpart from the left- and right-

1 hand side of this slide. And what you can see here is
2 both the cell and egg work very well against virtually
3 all the viruses circulating with 92 percent of the
4 viruses being covered well, and really 86 percent being
5 covered well by the egg antigen. So serum against the
6 egg antigen neutralizing at least 86 percent of the
7 viruses circulating very well.

8 I won't belabor this cartography. It's three
9 different centers -- the Tokyo, Atlanta, and London --
10 all showing a very similar pattern with the purple dot
11 being viruses that have the triple deletion, and the
12 orange dots being those with the double deletion. You
13 can see we had more of the double deletion viruses in
14 the U.S. still circulating than the triple deletion,
15 but that's been displaced now to virtually all being
16 triple deletion.

17 And you can see how well these viruses are
18 placed in -- the antigens are the large dot -- and how
19 well they're placed against all the small dots that
20 represent the different viruses that were tested. And

1 the gray -- I should have mentioned that earlier -- but
2 the gray indicates where the older viruses that existed
3 were circulating. So this is the older vaccine virus
4 B/Brisbane/60, and you can see that up here. And so
5 that's all these old viruses were like that.

6 The post vaccination human sera again, with
7 B/Washington -- so now these are adults immunized with
8 the B/Washington/02. You can see it's covering -- the
9 virus is circulating very well. And we selected some
10 really odd antigens that had major substitutions that
11 are emerging groups, such as this group here and this
12 group here. And you can see that the sera, when
13 compared to the cell geometric mean titers, is working
14 very well. And there is a little bit of drop in
15 comparison when you compare it to the egg viruses, but
16 not as significant as we see in other antigens.

17 So, to summarize those B/Victoria viruses,
18 they greatly predominated over those in the Yamagata.
19 Most of the viruses, if not nearly all, had HAs with
20 the deletion of three amino acids in their HA protein

1 and additional substitutions that are known for that
2 clade that I pointed out called G133R and K136E. The
3 majority, 85 to 90 percent, were recognized well by
4 ferret antisera against cell culture-propagated and the
5 egg culture-propagated B/Washington/02-like viruses.
6 And post-vaccination human sera recognized the current
7 B/Victoria viruses very well for the most part, even
8 some of the more antigenically advanced versions of
9 those viruses.

10 Now to the Yamagata viruses, and here is the
11 clade. And good news with the Yamagata viruses, it's a
12 very boring phylogeny. You can see how flat this tree
13 is and how the most recent viruses all are very
14 homogeneous for the most part. We have a few long
15 branch lines and a few odd ball viruses out there. We
16 can also see from the time tree, it's just a very few
17 B/Yamagata dashes that you see on the right-hand side.
18 So, if you slide your eye down this graph, you don't
19 see a lot of dashes. There's very few viruses
20 circulating that are in this B/Yamagata. They're all

1 clade 3.

2 Those that are circulating and can be
3 analyzed, do react well with the antisera generated
4 against the named vaccine virus B/Phuket/3073 whether
5 it's the cell antigen. And we do see some drop off
6 with the egg antigen, you can see 89 percent going to
7 50 percent. But you can also see very small numbers
8 here being able to be analyzed because so few viruses
9 are around for this time frame.

10 This shows you the cartography. Again, it
11 illustrates that same point where we have the B/Phuket
12 cell. That's really what you focus on when you focus
13 on the cartography and how well that antiserum would
14 cover the currently circulating viruses which are all
15 these small red dots here.

16 So, to summarize those B/Yamagata viruses,
17 they've been detected at very low frequency. All the
18 viruses have this clade 3 HA, which is like the
19 B/Wisconsin/1/2010 and B/Phuket/3073 clade. The
20 majority were recognized by ferret antisera very well

1 whether it was raised against cell culture-propagated
2 or egg propagated B/Phuket, and the post-vaccination
3 human sera recognized currently circulating B/Yamagata
4 very, very well.

5 So I'll end here. I may leave this up if we
6 have questions. This is to acknowledge all the
7 collaborating centers and the central regulatory labs
8 and our partners that help with this process.

9 **DR. EL SAHLY:** Thank you, Dr. Wentworth, for
10 this tour around the world with the antigenic
11 variability of the influenza A virus and influenza B as
12 well.

13 I'm going to give time for my colleagues to
14 prepare their questions. Those who do have a question,
15 please use the Raise Your Hand feature so we can see
16 your name, and Kathleen or I can call your name to ask
17 the question.

18 I will begin by asking a question regarding
19 whether the lower circulation of influenza viruses in
20 general, that occurred as a result of the social

1 measures against SARS-COVID-2, have resulted in lower
2 diversity or is that too early to tell? Is that too
3 short of an interval of time to see any difference
4 even?

5 **DR. WENTWORTH:** Dr. El Sahly, I think this is
6 one of our questions as well. So we do still see
7 diversity. It's just so -- it's hard to say how
8 strongly you believe it because of the low numbers, you
9 know, especially the most recent viruses.

10 **DR. EL SAHLY:** Mm-hmm.

11 **DR. WENTWORTH:** And we really are basing a lot
12 of the data that I can show you between viruses that
13 were really isolated between February and March because
14 so few viruses were isolated and able to characterized
15 April through September.

16 **DR. EL SAHLY:** Mm-hmm.

17 **DR. WENTWORTH:** And so that's where that
18 fitness forecasting models are going up and down, just
19 depending on the model that you used in part because
20 they don't have a lot of sequence data to use in that

1 analysis from April onward. And we haven't seen -- in
2 the U.S., we know very well that the positivity rate
3 has gone down, and then, in some other countries,
4 they're maybe some testing deficiencies because they're
5 so focused on SARS testing and needs for the pandemic,
6 of course. But, in the U.S., we've had pretty strong
7 ability to continue testing for influenza virus, so,
8 even if you just do it based on positivity rates, we've
9 seen positivity rates drop significantly.

10 **DR. EL SAHLY:** Mm-hmm.

11 **DR. WENTWORTH:** And so it's a real phenomenon
12 that the viruses aren't around as much. I'm probably
13 not answering your question, but it's making it very
14 difficult to know what will come through the
15 bottleneck. Will it be the same snapshot just at lower
16 levels that we had at the end of our seasons? Or will
17 it be something unique that really wasn't on our radar
18 because it's so antigenically advanced, somehow it's
19 more successful with these mitigation strategies?

20 **DR. EL SAHLY:** Okay. All right. I guess, the

1 next season maybe will educate us a little more as we
2 are continuing with the social measures.

3 **DR. WENTWORTH:** Yeah. Just to follow on that,
4 I think, what people are considering is those that have
5 high percentages of the population have a good chance
6 of continuing on and generating progeny that maybe are
7 a little bit more advanced. So what you can anticipate
8 is some of the major groups will still be there, and
9 they may be descendants of those groups.

10 **DR. EL SAHLY:** Okay. The other minor question
11 I have is that -- did I catch it correctly that
12 influenza A(H1N1), the 187 and the 156 subclades, are
13 antigenically unrelated to a large degree?

14 **DR. WENTWORTH:** Yeah. This is really an
15 interesting thing. So they're quite related but then
16 become very unrelated with ferret antisera. So, when
17 you take the ferret antisera, it really distinguishes
18 those two viruses very well because the ferret antisera
19 really recognizes these changes in the 156 region very
20 well. So the ferrets tend to respond in the

1 immunodominant way to that site-Sa. And we did discuss
2 that in the past VRBPACs where I showed you pediatric
3 human sera and how it could see -- it could be used to
4 identify changes in that site-Sb that ferrets were not
5 seeing.

6 And so, when you look at the human pools, the
7 human sera, they kind of react equally poorly with both
8 of those groups, right. And so we don't know how --
9 the ferrets I think accentuate the antigenic
10 distinction between the 156K viruses and the 187
11 viruses. So, I think, the ferrets are accentuating
12 that distinction, but clearly make it -- because of the
13 way ferrets are -- very easy to identify that that's
14 having an antigenic impact, those 156 substitutions
15 are.

16 **DR. EL SAHLY:** Okay. Thank you. We have few
17 of our members with questions. We will begin with Dr.
18 Paul Offit. Paul, would you please unmute and ask the
19 question?

20 **DR. OFFIT:** Yes. Thank you, David. That was

1 a superb talk. I really appreciate that. I just have
2 sort of a general question from my own interests. It
3 appears that the B/Yamagata is a relatively stable
4 virus as compared to the others. I mean, if that's the
5 case then, if all the viruses were like B/Yamagata,
6 could one argue you don't really need a yearly vaccine?

7 In other words, the reason that B/Yamagata
8 remains low is because I was immunized not just last
9 year with that but the year before, the year before and
10 the year before that. In other words, if I got
11 vaccinated five years ago against B/Yamagata, I would
12 still be protected against B/Yamagata today. Do you
13 understand what I'm asking?

14 **DR. WENTWORTH:** Yeah, I do understand what
15 you're asking. I think, it's a really intriguing
16 question, and thanks for your comments at the start of
17 that question, Dr. Offit.

18 I'll tell you what I think is going on with
19 the Yamagata. So we had two large antigenic drift
20 variance occur from the B/Victoria lineage. First, it

1 was the double deletion group. That really swept the
2 globe and really hit the U.S. pretty significantly.
3 And you imagine, in some ways, that's like a new
4 pandemic virus or a very good vaccine campaign.

5 Then we had the triple deletions come, and
6 they are antigenically distinct from that double
7 deletion group. And again, they've really dominated
8 last season. We had a huge influenza B season in the
9 United States, for example, particularly early on in
10 the season, and it was really impacting a pretty good
11 chunk of our population.

12 And so, I think what that's done is stimulated
13 a lot of memory to the conserved regions of the HA
14 proteins that are shared between the Yamagata and
15 Victoria viruses. So, in effect, it acted like a
16 vaccine against Yamagata viruses; because it was so fit
17 and so successful, it was doing that.

18 Whether or not we need to remove the Yamagata
19 from the vaccine is a whole other question. And I
20 wouldn't agree to that right now, because some of the

1 Yamagata viruses that we see, the very few that we see,
2 some of them have a number of amino acid changes,
3 pretty distinct and odd viruses. But there's just so
4 few of them you couldn't determine whether that would
5 be a good vaccine or a terrible vaccine. So it's
6 better to stay with the progenitor as the vaccine. You
7 know, that's kind of the opinion of the committee.

8 If we see one of those groups start to really
9 take hold and not be just one-off viruses, then that's
10 when it may be smart to move to that. Because what
11 could happen is that's a very strong bottleneck, and it
12 could go very antigenically advanced and have a lot of
13 B/Yamagata. And it could impact certain parts of our
14 population more significantly than others.

15 **DR. EL SAHLY:** Hmm.

16 **DR. WENTWORTH:** But it would be great if we
17 could wipe it out and then have only three components
18 that we could add -- do other things with our vaccine
19 and not disrupt manufacturing over.

20 **DR. OFFIT:** Thank you. Thanks, David.

1 **DR. EL SAHLY:** Okay. Dr. Spearman has a
2 question. Paul, do you want to unmute yourself?

3 **DR. SPEARMAN:** Sure. Thank you very much for
4 that presentation. There's so much data there that I
5 know it gets gone over in lots and lots of detail at
6 the WHO meetings.

7 The thing that was most striking that was very
8 early in your talk and like Hana already asked about,
9 the lack of a season really in the Southern Hemisphere
10 was so striking. If you plot that out from past years,
11 is this a historical low, because it looked like there
12 were hardly any strains at all? And I wonder if that
13 just means it's going to be very, very different in
14 coming years than it has been.

15 **DR. WENTWORTH:** Yeah. The number of positives
16 that were identified is a historical low for the GISRS.
17 As I maybe alluded to, it's harder to tease apart
18 whether that's due to a lot of mitigation issues
19 related to the pandemic, or in part due to less testing
20 for flu because health systems are so stressed testing

1 for SARS.

2 So it's easier to disentangle that in the
3 United States than it is in other countries where we
4 don't have as much understanding of what's happening.
5 I'm sure over the next year, with WHO's efforts, will
6 delve into that in more detail trying to understand how
7 many flu tests they've done.

8 So, for example, for a National Influenza
9 Center, even if they're doing testing, if they were
10 getting negatives, they would be less likely to take
11 the time to report it into the WHO structure that they
12 did X number -- the denominator is hard to figure out
13 right now. So that they did this many tests and they
14 were negative. Because there isn't a virus there,
15 there's not a lot of incentive to report that at the
16 moment; they're busy reporting about COVID and all of
17 that, so they have their hands full.

18 So they may even be doing the testing or at
19 least some National Influenza Centers maybe doing quite
20 a bit of testing. But they're also a lot of times the

1 same groups that are working on SARS-coronavirus-2.

2 **DR. SPEARMAN:** Sure.

3 **DR. EL SAHLY:** Okay.

4 **DR. SPEARMAN:** Thank you.

5 **DR. EL SAHLY:** We have a question from Dr.

6 Kurilla. Mike, please unmute yourself.

7 **DR. KURILLA:** There you go. Can you hear me
8 okay?

9 **DR. WENTWORTH:** Yeah.

10 **DR. EL SAHLY:** We can.

11 **DR. WENTWORTH:** Yep.

12 **DR. KURILLA:** Thanks a lot, David. My
13 question is a little bit related to what Dr. Offit was
14 getting at, but I was more intrigued by the Victoria
15 predominance. I don't know on an absolute level
16 relative to the A strains, but you seem to show some
17 pretty good antiserum reactivity. I'm wondering is the
18 predominance -- is that saying something about the lack
19 of vaccine efficacy of the Victoria component, or is it
20 saying something about the Victoria lineage relative to

1 the Yamagata that is a difference?

2 **DR. WENTWORTH:** I think it's saying something
3 relative to the latter part of your question. So
4 Victoria being a very unique antigenic drift variance,
5 it's very successful really being a very fit virus as
6 an influenza B virus; outcompeting its, basically,
7 parents that didn't have the deletion, drastically
8 pushing those out and basically wiping them out from
9 detection. Very few of those around at all. And
10 really doing a number on the other variant that evolved
11 nearly simultaneously, which was the double deletion
12 group and wiping it out.

13 So it's a very fit virus with a deletion and
14 probably antigenically very distinct. So it's just
15 having a much better -- it's just much more fit in our
16 population. And it has very little to do with the
17 vaccine because the vaccine efficacy isn't bad for that
18 group of virus at all by our measures of vaccine
19 efficacy. So it's really -- you know, globally,
20 there's not as much vaccine used as it is in some

1 countries. So this is a phenomenon that's happening
2 globally in all these populations that are really
3 driving it by prior existing immunity, most due to
4 natural infections and not the vaccine that's driving
5 that evolution.

6 **DR. KURILLA:** Thanks.

7 **DR. EL SAHLY:** Okay. Dr. Gans. Hayley Gans
8 has a question. Hayley, do you want to unmute yourself
9 and ask the question?

10 **DR. GANS:** Yes. Thank you. Thanks very much,
11 David. I really enjoyed your presentation, always full
12 of a lot of data and that's so helpful to us in
13 understanding that. A lot of my sort of specific clade
14 and subclades were asked and answered, so I just have
15 three more basic questions that I thought I just wanted
16 you to address. I was just going to say them or would
17 you like me to do them one at a time?

18 **DR. WENTWORTH:** Go ahead and say them.

19 **DR. GANS:** Okay. So the first one is it
20 really looks like the majority of all of the viruses

1 that are being looked at are actually not subtyped, so
2 I'm wondering if you're worried about any of the
3 integrity of the data? Or is it just that because
4 we're surveying throughout the whole season and there's
5 that consistent pattern that we're not worried that
6 we're missing some variation? And maybe even the way
7 that majority of these infections are, because if 49
8 percent isn't being subtyped, we don't really actually
9 know how those would fall out. So that's the first
10 one.

11 The second one has to do with more of the fact
12 that we're here looking at the Southern Hemisphere. I
13 noticed at least in your geographic plots that a lot of
14 the African countries that fall into that Southern
15 Hemisphere didn't actually look like they had data, and
16 so I'm not sure how to best look at that.

17 And then my third one is just, since we're
18 here looking at the egg based and such, the U.S.
19 portion, how much of the markets, considering the
20 difference in how they perform in terms of the cell

1 based, is going to be the egg based versus the cell
2 based for these communities?

3 **DR. WENTWORTH:** Okay. So, yeah, I'll work
4 backwards. So the market, I'm not the best person to
5 ask about the market, but more than 80 percent of the
6 vaccines are really egg-based vaccines that are
7 available for distribution. And so that, of course,
8 can change based on the manufacturing and consumers and
9 things like that. But that's about where we're at.

10 With regards to the Southern Hemisphere and
11 Africa, it is really difficult now because we're not
12 seeing very many viruses, so we don't know exactly
13 what's going on. And it used to be historically we saw
14 viruses kind of end in Africa. They would kind of go
15 around the world and they would be kind of the older
16 clades, but that's not true anymore.

17 We're seeing some in the West Africa that are
18 -- you know, like for example, we do have some spots
19 where we see in countries in western Africa where the
20 135K subgroup of the H3s are, for example, and they're

1 really related often to viruses in Asia. So viruses
2 from Asia seem to be moving to that western African
3 region. And so it's not likely discussed. The data's
4 sparse, and it's very difficult for the Southern
5 Hemisphere -- the most recent Southern Hemisphere
6 viruses to understand what's there in prevalence.

7 And I think that's related a little bit to
8 your first question which is how can we trust all this
9 data if so few are subtyped? And so I actually have
10 less worry about that, and that's in part because that
11 really represents a large number of viruses. And so
12 subsets are subtyped, and you can just kind of take
13 those percentages and put them on all those unsubtyped
14 viruses and you would have that kind of information.

15 For example, in the United States, we generate
16 a lot of data that goes to that graph for WHO. It's
17 listed as unsubtyped at the time because it's from the
18 clinical laboratory system where they're just getting
19 flu A positives, right? But they take a subset of
20 those and they send them into the state public health

1 laboratories which do subtype the virus, and so we do
2 see the percentages in the U.S. at a much more granular
3 level. Actually, almost all those viruses are
4 genomically characterized, either by the CDC or by our
5 partners in the National Influenza Reference Centers
6 that we have cooperative agreements with to do
7 sequencing.

8 I think it's kind of unnerving when you look
9 at it, and you go, oh, jeez, you know, 50 percent
10 aren't subtyped. But there's a lot of specimens
11 normally, and so it really represents a pretty good
12 distribution when you see the ones that are subtyped
13 and how many were circulating. The most difficult ones
14 this year were the B viruses with the Yamagata being so
15 exceptionally low. By not subtyping, you kind of
16 disproportionalized that. Did that answer your
17 question?

18 **DR. GANS:** Thank you.

19 **DR. WENTWORTH:** You're welcome to -- okay.

20 **DR. GANS:** Yeah.

1 **DR. EL SAHLY:** I have a follow-up question.
2 So correct me if I'm wrong on that one. The proposed
3 strain for the Southern Hemisphere for the H1N1 is
4 156K-like for lack of a better designation. And the
5 one that we picked six months ago for the Northern
6 Hemisphere is 187-like.

7 I remember that very informative, I guess,
8 visual that you put. It seems that a lot of regions in
9 the world were heading towards dual circulation of
10 those two viruses. Not so much of a question as a
11 comment, it's like we are going to be performing an
12 experiment to see how these two choices, which are
13 rational at the time given the data, are going to play
14 out in terms of the relatedness and the effect on
15 circulation. It's a very interesting situation with
16 the H1N1 this season.

17 **DR. WENTWORTH:** Yeah. And so I guess I'll
18 comment on that. Because if you can kind of think back
19 to that -- I think I know what you're talking about.
20 If the clade turnover slide by region, that slide,

1 which really was probably not a take home from that, is
2 right now that 156K group represents about 30 percent
3 of the viruses globally, right? And back when we
4 selected the 187 group, it was much lower globally. It
5 was probably eight percent or something like that. And
6 we had seen those viruses before, but they never took
7 off. So they didn't have the right substitutions in
8 other parts of the molecule to allow them to be fit in
9 our population, and now they do.

10 And so what we're projecting now with the
11 Southern Hemisphere recommendation is that six months
12 from now, that group will move from 30 percent to
13 probably more than half, right? But what's going to
14 circulate in the Northern Hemisphere this winter still
15 remains really undefined. If you remember Europe
16 didn't have very many of the 156K viruses at all. We
17 had them come towards the end of our H1N1 season is
18 when they really came into the U.S. and started to
19 increase. And that's when they saw more 156K viruses
20 as well in the Southern Hemisphere at the start of

1 their season, like particularly in Australia and the
2 Philippines -- you know, surrounding areas that the
3 VIDRL gets specimens from.

4 So maybe I'm not answering your question. But
5 the other part of it is if you remember the
6 hemagglutinin molecule structure that I went through,
7 remember that crystal structure with all the little
8 dots that are color coded on it, those -- we're making
9 the 156K and the 187 seem so different from each other.
10 But really, we're talking about they're different -- in
11 the antigenic sites, they differ from each other by two
12 amino acids, right? One of them have these 156K and
13 161 change, and one of them has the 187, 189 change,
14 right? But they all have the same backbone change as
15 of the 185, the 183 and those weren't -- all those
16 backbone changes that are part of the 5A supergroup
17 weren't in our vaccine previously and are now.

18 So we don't have a good idea of how well the
19 187 selection in humans will do against these 156
20 viruses. And we won't until we have human sera, which

1 we'll get in December/January this year from people
2 getting vaccinated now, to look at how well the human
3 sera generated against that current vaccine works
4 against the 156 viruses.

5 I can also tell you in other animal models,
6 they don't see the difference -- the stark difference -
7 - between the 156 and the 187 viruses that ferrets do.
8 For example, we're using mice more now with these
9 because of this issue with the ferrets focusing solely
10 on the site-Sa. And what we see with our preliminary
11 data there is that by updating the vaccine to 183 and
12 now to the 187 group, it better covers the 156 viruses.
13 So I'm kind of hoping that human immune response is a
14 little more like the mouse immune response than the
15 ferret immune response.

16 **DR. EL SAHLY:** That's great. So we have some
17 data to discuss in March already.

18 **DR. WENTWORTH:** Yeah. We will. They'll be a
19 very -- it's not an experiment, but it's a very -- I
20 said, you know, I --

1 **DR. EL SAHLY:** Yeah, it's not --

2 **DR. WENTWORTH:** -- I think my point is we've
3 moved the vaccine up to the most recent antigenically
4 advanced groups that share more amino acids together
5 than they diverge from. Out of those 560 amino acids,
6 they're very closely related.

7 **DR. EL SAHLY:** Okay.

8 **DR. WENTWORTH:** And, of course, there's more
9 than receptor binding neutralizing antibodies to our
10 immune response to flu.

11 **DR. EL SAHLY:** Okay. All right. Thank you.
12 I think the last question is from Mr. Toubman. Sheli
13 (phonetic), please unmute yourself and ask the final
14 question. Sheli, you're still on mute it looks like.

15 **MR. TOUBMAN:** Can you hear me now?

16 **DR. EL SAHLY:** Yes, we can.

17 **MR. TOUBMAN:** Thank you. I see I'm not moving
18 but -- in terms of the impact of the pandemic, I have a
19 question at the beginning was about what was the
20 circulation obviously and the impact of that. A

1 related question is about travel. I guess, you know,
2 people do their absolute best, obviously, in making the
3 educated guess about where it's going to be a year from
4 now. But this is an extremely different situation
5 where travel -- international travel -- particularly,
6 has vastly reduced. So my question is what impact does
7 that have in the guesstimating, given the fact that we
8 can expect far less international travel probably up
9 until next summer?

10 **DR. WENTWORTH:** Yeah, I mean, my short answer
11 is I don't know. I think what you can imagine, and
12 what people think about, is the reductions in travel
13 reduce spread of the more novel variance. And they
14 create more of a geographic pocket where different
15 viruses might evolve like four different populations.
16 And so you have less mixing of the different clades
17 than we normally see.

18 You can think of a place like New York City
19 and how many different viruses, from globally, show up
20 in New York City in the course of three or four months.

1 And that's going to be reduced in part because of these
2 reductions in travel.

3 But, I think, what we're thinking is that the
4 most fit clades will still be moving around to a
5 certain extent, but there could be -- you know, how I
6 showed these maps where we show the geographic
7 distribution, and how they're already are pockets where
8 some clades seem to be doing -- you know, they're the
9 most predominant clade and other clades really aren't
10 there. And that could get worse is my short answer
11 there. Because if there's much less travel, that group
12 of viruses may be just fine in that population and it
13 isn't displaced by a slightly more fit virus from
14 another location. So I think it may become less
15 homogeneous than it already isn't very homogeneous.

16 **MR. TOUBMAN:** Thank you.

17 **DR. EL SAHLY:** Okay. Okay. So that concludes
18 this session. Thank you so much, Dr. Wentworth, for
19 going through these slides and explaining to us all
20 these details regarding the antigenic relatedness and

1 the circulation trajectory of these viruses.

2 We will now take a break for lunch. The plan
3 is to reconvene at 1:30 p.m. Eastern time, so that
4 gives us 40 minutes of break. Thank you all.

5 **MS. HAYES:** And, if everyone could please stay
6 connected with your phone and also in the meeting,
7 that'll just make it easier to get started so we don't
8 have to reaccept anybody back into the room. Thank
9 you.

10 **[LUNCH BREAK]**

11

12 **MR. KAWCYNKI:** Thank you and welcome back
13 from our break. We are going to begin started with the
14 after-lunch portion, so I'm going to hand this back to
15 my colleagues. Kathleen, do you want to take it away?
16 It'll kick on.

17 **MS. HAYES:** Yeah. Hi, everybody. Welcome
18 back. We are going to move into the next portion of
19 our meeting. Dr. El Sahly, did you want to make any
20 statements about the OPH session?

1

OPEN PUBLIC HEARING

2

3

DR. EL SAHLY: Hi. Can you hear me?

4

DR. WENTWORTH: Yes.

5

MS. HAYES: Yes, I can.

6

DR. EL SAHLY: Okay. So it seems that no one

7 has registered for the open public hearing session, so

8 we will be moving to the next portion of our meeting,

9 which is the discussion and the voting and the

10 recommendation.

11

12

COMMITTEE DISCUSSION, VOTING AND RECOMMENDATIONS

13

14

DR. EL SAHLY: I will be giving the

15 opportunity to all of our members to comment on the

16 presentation today and final thoughts on the

17 recommendations prior to voting. As I go around and

18 state your name, please unmute yourself and let us know

19 if you have a comment; or if none, then we'll just move

20 to next committee member. Starting off with Dr.

1 Beckham. Dr. Beckham, did you have any comments or
2 final thoughts on the presentation today?

3 **DR. BECKHAM:** Hi. No. Excellent
4 presentation. Thank you very much for that. No
5 additional thoughts or questions.

6 **DR. EL SAHLY:** Thank you. Dr. Chatterjee.

7 **DR. CHATTERJEE:** Thank you, Dr. El Sahly and
8 Dr. Wentworth, for your presentation. I share the
9 concern about having so few samples to make the
10 decision about the choice of strains, but, other than
11 that, I have no other comments.

12 **DR. EL SAHLY:** Okay. Thank you. Dr. Cohn.

13 **CAPT. COHN:** Thank you, Dr. Wentworth, for a
14 great presentation. I concur with Dr. Chatterjee. I
15 am also concerned about the low number of strains but
16 believe that you guys have done an amazing job with the
17 data that you do have and concur with the proposed
18 strains.

19 **DR. EL SAHLY:** Thanks, Dr. Cohn. Dr. Gans.

20 **DR. GANS:** Hi. Yes. Thank you. My only

1 comment -- I mean, I already told Dr. Wentworth I
2 thought it was an excellent presentation. My only
3 comments are, it would be nice to know about the data
4 about persistence of immunity just because, to your
5 point, Hana, I mean, we had for a predominated to
6 target the 187, now we're going to change to the 156.

7 But, if people are vaccinated previously, they
8 should have good responses. But it would be nice to
9 know just some of the data around that we assume from
10 past epidemiologic and from other studies that there is
11 persistence not widely immunized every year. But that
12 would be nice to document.

13 The other part of this that I think is
14 missing. I mean, we have discussions and we obviously
15 can come to this ourselves, but it would be nice to
16 associate the specific recommendations with what
17 exactly we are targeting in terms of the 187, versus
18 the 156, versus whatever else that's in there. Those
19 are my only comments. I completely agree with the
20 recommendation.

1 **DR. EL SAHLY:** Thank you, Dr. Gans. Dr.
2 Janes.

3 **DR. JANES:** Thank you. I really appreciated
4 the discussion about the number of strains that are
5 available, and the issue with the representativeness of
6 them and challenges with determining what the
7 denominator is. I wholeheartedly concur with Dr. Gans'
8 suggestion to characterize the durability of the immune
9 responses to help us make decisions about changes to
10 the strain from season to season. I concur with the
11 recommendation then. I really appreciated the
12 discussion and presentation. Thank you.

13 **DR. EL SAHLY:** Thanks, Dr. Janes. Dr.
14 Kurilla.

15 **DR. KURILLA:** No comments.

16 **DR. EL SAHLY:** Thank you. Dr. Levine.

17 **DR. LEVINE:** I would just add my thanks and
18 kudos to the great presentation that David Wentworth
19 gave. I have nothing to add to the cogent comments
20 that have already been made.

1 **DR. EL SAHLY:** Okay. Thank you. Dr.
2 Meissner.

3 **DR. MEISSNER:** Thank you. I guess, the more I
4 listen to the experts talk about influenza, the more
5 confusing this whole topic. It is so hard to
6 anticipate what's going to happen this year with the
7 influenza season. On one hand, it may be mild as we
8 have seen so far, and then there are other descriptions
9 of people who have co-infections with influenza B and
10 SARS-CoV-2 may have more severe disease than with
11 either one alone.

12 It's just a fascinating issue, and there's not
13 much in infectious diseases that's more interesting.
14 But I also want to thank David Wentworth for presenting
15 just an enormous amount of data. And I think I have
16 nothing more to add. Thank you.

17 **DR. EL SAHLY:** Thanks, Dr. Meissner. Dr.
18 Offit.

19 **DR. OFFIT:** Yes, I just want to thank Dr.
20 Wentworth for making it clear to me why it is that the

1 head of the influenza lab at Wistar when I was younger
2 once said to me, "If you want a research career that
3 lasts for the rest of your life, study influenza."

4 **DR. EL SAHLY:** Okay. Did you listen to his
5 advice?

6 **DR. OFFIT:** No.

7 **DR. EL SAHLY:** All right. Dr. Pergam. Thank
8 you, Dr. Offit.

9 **DR. PERGAM:** Yeah. I don't think there's much
10 to add other than thanks, Dr. Wentworth, for that
11 amazing review. It's always amazing. It was
12 interesting how much one learns when listening to these
13 talks. I think most of the comments that I would have
14 made have been covered by others. But thanks again for
15 that great discussion.

16 **DR. EL SAHLY:** Thanks. Dr. Shane. You may be
17 on mute, Dr. Shane.

18 **MS. HAYES:** I think she may be trying to
19 reconnect.

20 **DR. EL SAHLY:** Okay. We'll circle back to Dr.

1 Shane. Dr. Spearman.

2 **DR. SPEARMAN:** I have no further comments.

3 Thank you very much for the presentation. It was
4 excellent.

5 **DR. EL SAHLY:** Thanks. Dr. Swamy.

6 **DR. SWAMY:** Thank you. No further comments
7 than those have been made by the group so far.

8 **DR. EL SAHLY:** Thanks, Dr. Swamy. Mr.
9 Toubman.

10 **MR. TOUBMAN:** I would just, yeah, thanks, Dr.
11 Wentworth, for an excellent presentation. It also
12 reinforces the value of the international system,
13 WHO's global monitoring. I just don't see how we could
14 possibly get a handle on this without the oversight of
15 that body and, obviously, Dr. Wentworth's an important
16 component in that. So just a great thank you for the
17 presentation and for the existence of that system, and
18 I don't have any reason to disagree with the
19 recommendations. Thank you.

20 **DR. EL SAHLY:** Thank you. Dr. Annunziato.

1 You may be on mute, Dr. Annunziato.

2 **DR. ANNUNZIATO:** Thank you. I'm sorry. I had
3 some problem with the microphone. So, yes, I also just
4 want to add my thanks to the presenters and to note
5 that the vaccine manufacturers greatly appreciate the
6 systematic surveillance and the careful scientific
7 investigations that guide these semiannual selections
8 of the influenza strains.

9 We heard some amazing and unprecedented
10 dynamics this morning about these strains, and it just
11 points out how really important this work is.

12 **DR. EL SAHLY:** All right. Thank you, Dr.
13 Annunziato. Final comments from Dr. Wentworth before
14 going to the CBER representatives.

15 **DR. WENTWORTH:** I really don't have any
16 additional comments. I really appreciate your comments
17 on the presentation. It's certainly a team effort on
18 our part here at the CDC, and as was mentioned, the WHO
19 and GISRS labs really play key rolls there. So some of
20 the data used is directly from them and not even from

1 the CDC. So thanks very much.

2 **DR. EL SAHLY:** Thank you. Dr. Weir.

3 **DR. WEIR:** I don't have anything to add. I
4 appreciate all of the comments.

5 **DR. EL SAHLY:** Okay. Dr. Krause? You may be
6 on mute, Dr. Cross.

7 **MS. HAYES:** I don't think Dr. Krause was on
8 today.

9 **DR. EL SAHLY:** Oh. Okay. I have his name for
10 discussion. Dr. Gruber.

11 **DR. GRUBER:** Yeah. Hi. This is Marion. I
12 don't have anything to add. I just wanted to also
13 thank David Wentworth for an excellent presentation of
14 the data and thank the committee for their comments, so
15 thank you so much.

16 **DR. EL SAHLY:** Thank you, Dr. Gruber. I'm
17 going to circle back and see if Dr. Shane managed to
18 reconnect.

19 **DR. SHANE:** Yes, I did. Apologies. Thank you
20 very much for the lovely presentation of this very

1 educational, and I don't have any additional comments.
2 Thank you.

3 **DR. EL SAHLY:** Thank you, Dr. Shane. So to
4 sum it up, I also want to echo how amazed we are at the
5 collaborative effort that yields this breadth and depth
6 of data on the influenza surveillance and the
7 trajectory of the epidemic year after year.

8 I have nothing else to add or a reason to
9 question the recommendation, except that the following
10 season is going to be a very interesting season given
11 all the vital and social dynamic at play. With that,
12 we will move to the voting unless Kathleen tells me I
13 have something else to do. Are we good with voting?

14 **MS. HAYES:** I think we can move to voting.

15 **DR. EL SAHLY:** Okay.

16 **MS. HAYES:** I'm going to just check in on one
17 other thing to make sure that our webcast is set and
18 that people are able to hear fine. Just one moment.

19 **DR. EL SAHLY:** Okay.

20 **MS. HAYES:** Okay. So it looks like we are

1 good to go. So we can proceed with the voting. You
2 will see each question that needs to be voted upon on
3 this slide, and Dr. El Sahly will read each question
4 for the record. Then afterwards all members will cast
5 their vote by selecting one of the voting options,
6 which include yes, no, or abstain. And then, once all
7 of the votes have been placed, I will broadcast the
8 results and read the individual votes aloud for the
9 record.

10 **DR. EL SAHLY:** Okay. Do you want to post the
11 questions?

12 **MS. HAYES:** Yes. If we can move into the
13 first voting slide. Okay. Here's our voting question.

14 **DR. EL SAHLY:** Okay. For the composition of
15 egg-based trivalent 2021 Southern Hemisphere
16 formulations of influenza vaccines, does the committee
17 recommend: A) Inclusion of an
18 A/Victoria/2570/2019(H1N1)pandemic09-like virus; B)
19 Inclusion of an A/Hong Kong/2671/2019(H3N2)-like virus;
20 C) Inclusion of a B/Washington/02/2019-like virus

1 (B/Victoria lineage)? The options are yes, no or
2 abstain. Please vote.

3 **DR. KURILLA:** Hana, I'm only getting the
4 option to vote on the item 2. Is that correct?

5 **DR. EL SAHLY:** Well, I have the same. It says
6 Voting Question Number 1, and then it says vote item 2.
7 Let me check verification for all. I think we're
8 voting on Question 1, so it should be okay.

9 **DR. KURILLA:** Okay. Thank you.

10 **DR. MEISSNER:** Oh, it looks like it just came
11 back again to us.

12 **DR. EL SAHLY:** Kathleen, should we ask
13 everyone to vote again, or did it go through?

14 **MS. HAYES:** Yes, if you could just, please,
15 input your votes.

16 **DR. EL SAHLY:** Okay. Please vote again, and
17 we are voting on Question Number 1 as displayed.

18 **MS. HAYES:** Okay. So it looks like all of the
19 votes are in, and we have a unanimous yes among all the
20 members, so this vote passes. I will now read just the

1 individual member votes for the record. Dr. Pergam,
2 yes; Dr. Gans, yes; Dr. Meissner, yes; Mr. Toubman,
3 yes; Dr. Shane, yes; Dr. Beckham, yes; Dr. El Sahly,
4 yes; Dr. Offit, yes; Dr. Swamy, yes; Dr. Levine, yes;
5 Dr. Cohn, yes; Dr. Spearman, yes; Dr. Janes, yes; Dr.
6 Chatterjee, yes.

7 **DR. EL SAHLY:** Okay.

8 **MS. HAYES:** Dr. Meissner, yes. And that
9 should conclude.

10 **DR. EL SAHLY:** All right.

11 **MS. HAYES:** So we can now move on to the
12 second voting question.

13 **DR. EL SAHLY:** Okay. Question Number 2. For
14 quadrivalent 2021 Southern Hemisphere formulation of
15 influenza vaccines, does the committee recommend the
16 inclusion of a B/Phuket/3073/2013-like virus
17 (B/Yamagata lineage) as the 2nd influenza B strain in
18 the vaccine? Options again, yes, no or abstain.
19 Please vote.

20 **MS. HAYES:** Okay. It looks like all the votes

1 are in, and similar to the previous question we have a
2 unanimous yes among all the members, so this vote
3 passes. I will read the individual member votes for
4 the record. Dr. Pergam, yes; Dr. Gans, yes; Dr.
5 Meissner, yes; Mr. Toubman, yes; Dr. Shane, yes; Dr.
6 Beckham, yes; Dr. El Sahly, yes; Dr. Offit, yes; Dr.
7 Swamy, yes; Dr. Levine, yes; Dr. Cohn, yes.

8 **DR. EL SAHLY:** Okay.

9 **MS. HAYES:** Sorry. Just one moment. Dr.
10 Janes, yes; Dr. Chatterjee, yes; Dr. Kurilla, yes; Dr.
11 Spearman, yes. And this concludes the vote.

12 **DR. EL SAHLY:** Okay. Well, with that I want
13 to thank everyone for taking the time to meet today and
14 review the data and provide their comments and their
15 votes on the topic of discussion, which is the strain
16 selection for the Southern Hemisphere influenza vaccine
17 strains. Hopefully, we will reconvene soon and
18 probably in another virtual setting. Bye, everyone.

19

20 **[MEETING ADJOURNED]**