

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

PEDIATRIC ONCOLOGY SUBCOMMITTEE OF THE
ONCOLOGIC DRUGS ADVISORY COMMITTEE (pedsODAC)

Wednesday, June 17, 2020
10:00 a.m. to 12:05 p.m.

Topic 1
Morning Session

Virtual Meeting

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Meeting Roster

ACTING DESIGNATED FEDERAL OFFICER (Non-Voting)

LaToya Bonner, PharmD

Division of Advisory Committee and
Consultant Management
Office of Executive Programs, CDER, FDA

ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)

David Mitchell

(Consumer Representative)
(Participation in Day 1 Topic 1 and Day 2 Only)
Founder, Patients for Affordable Drugs
Bethesda, Maryland

Alberto S. Pappo, MD

(Chairperson, pedsODAC)
Member and Head, Division of Solid Malignancies
St Jude Children's Research Hospital
Professor of Pediatrics
University of Tennessee Health Science Center
Memphis, Tennessee

1 **ONCOLOGIC DRUGS ADVISORY COMMITTEEMEMBER (Non-Voting)**

2 **Jonathan D. Cheng, MD**

3 *(Industry Representative)*

4 Vice President and Oncology Therapeutic

5 Area Head, Merck Research Laboratories

6 Oncology Clinical Research

7 North Wales, Pennsylvania

8

9 **TEMPORARY MEMBERS (Voting)**

10 **Catherine Bollard, MBChB, MD**

11 Director, Center for Cancer and Immunology Research

12 Professor of Pediatrics and Immunology

13 Children's National Health System

14 The George Washington University

15 Washington, District of Columbia

16

17

18

19

20

21

22

1 **Ira J. Dunkel, MD**

2 Professor of Pediatrics

3 Weill Cornell Medical College

4 Pediatric Oncologist

5 Department of Pediatrics

6 Memorial Sloan Kettering Cancer Center

7 New York, New York

8

9 **Julia Glade Bender, MD**

10 Vice Chair for Clinical Research

11 Department of Pediatrics

12 Memorial Sloan Kettering Cancer Center

13 New York, New York

14

15 **Richard Gorlick, MD**

16 Division Head and Department Chair, Pediatrics

17 Professor of Pediatrics

18 Robert A. Mosbacher Chair of Pediatrics

19 Department Chair ad interim, Sarcoma Medical Oncology

20 University of Texas MD Anderson Cancer Center

21 Children's Cancer Hospital

22 Houston, Texas

1 **Katherine A. Janeway, MD, MMSc**

2 Associate Professor of Pediatrics

3 Harvard Medical School

4 Senior Physician

5 Dana-Farber/Boston Children's Cancer and Blood

6 Disorders Center

7 Director, Clinical Genomics

8 Dana-Farber Cancer Institute

9 Boston, Massachusetts

10

11 **Naynesh R. Kamani, MD**

12 Attending Physician

13 Division of Allergy-Immunology

14 Children's National Health System

15 Clinical Professor of Pediatrics

16 George Washington University School of Medicine and

17 Health Sciences

18 Washington, District of Columbia

19

20

21

22

1 **E. Anders Kolb, MD**

2 Vice Chairman for Research

3 Professor, Department of Pediatrics

4 Sidney Kimmel Medical College at

5 Thomas Jefferson University

6 Director

7 Nemours Center for Cancer and Blood Disorders

8 Nemours/Alfred I. duPont Hospital for Children

9 Wilmington, Delaware

10

11 **Theodore W. Laetsch, MD**

12 Associate Professor of Pediatrics

13 Norma and Jim Smith Professor of Clinical Excellence

14 Eugene P. Frenkel, M.D. Scholar in Clinical Medicine

15 Harold C. Simmons Comprehensive Cancer Center

16 University of Texas Southwestern Medical Center

17 Experimental Therapeutics Program Leader

18 Children's Health

19 Dallas, Texas

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Donna Ludwinski, BSChE

(Patient Representative)

New York, New York

Tobey J. MacDonald, MD

Aflac Endowed Chair for Pediatric Neuro-Oncology

Professor of Pediatrics

Director, Pediatric Neuro-Oncology Program

Aflac Cancer & Blood Disorders Center

Children's Healthcare of Atlanta

Emory University School of Medicine

Atlanta, Georgia

1 **Leo Mascarenhas, MD, MS**
2 Deputy Director, Cancer and Blood Disease Institute
3 Section Head- Oncology and
4 Director Sarcoma and Solid Tumor Program
5 Division of Hematology and Oncology
6 Department of Pediatrics
7 Children's Hospital Los Angeles
8 Associate Professor of Pediatrics
9 Keck School of Medicine
10 University of Southern California
11 Los Angeles, California

12
13 **D. Williams (Will) Parsons, MD PhD**
14 Associate Professor of Pediatrics
15 Baylor College of Medicine
16 Deputy Director, Texas Children's Cancer and
17 Hematology Centers
18 Houston, Texas

19
20
21
22

1 **Elizabeth Raetz, MD**

2 Professor of Pediatrics

3 NYU Grossman School of Medicine

4 Director, Division of Pediatric Hematology/Oncology

5 NYU Langone Health

6 New York, New York

7

8 **Nita Seibel, MD**

9 Head, Pediatric Solid Tumor Therapeutics

10 Clinical Investigations Branch, Cancer Therapy

11 Evaluation Program

12 Division of Cancer Treatment and Diagnosis

13 National Cancer Institute

14 National Institutes of Health (NIH)

15 Bethesda, Maryland

16

17

18

19

20

21

22

1 **Malcolm A. Smith, MD, PhD**

2 Associate Branch Chief for Pediatrics

3 Clinical Investigations Branch

4 Cancer Therapy Evaluation Program

5 Division of Cancer Treatment and Diagnosis

6 National Cancer Institute, NIH

7 Rockville, Maryland

8

9 **FDA PARTICIPANTS (Non-Voting)**

10 **Gregory H. Reaman, MD**

11 Associate Director for Pediatric Oncology

12 Oncology Center of Excellence

13 Office of the Commissioner

14 Associate Director for Oncology Sciences

15 Office of Oncologic Diseases (OOD)

16 Office of New Drugs (OND), CDER, FDA

17

18 **Denise Casey, MD**

19 Medical Officer

20 Division of Oncology 3 (DO3)

21 OOD, OND, CDER, FDA

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Leslie Doros, MD

Medical Officer

DO3, OOD, OND, CDER, FDA

Megan Zimmerman, MD

Medical Officer

Clinical Hematology Branch

Division of Clinical Evaluation and

Pharmacology/Toxicology

Office of Tissues and Advanced Therapies

Center for Biologics Evaluation and Research, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Alberto Pappo, MD	14
5	Introductory Remarks	
6	Gregory Reaman, MD	22
7	Topic 1: SP-2577 - Salarius Pharmaceuticals	
8	Conflict of Interest Statement	
9	LaToya Bonner, PharmD	24
10	Industry Presentations - Salarius	
11	Introduction	
12	David Arthur	31
13	SP-2577 (Seclidemstat)	
14	Mechanism of Action, Designs	
15	Rationale, and Preclinical Data	
16	Bruce McCreedy, PhD	34
17	Relapsed Ewing Sarcoma Lacks a	
18	Standard of Cause	
19	Damon Reed, MD	41
20	Seclidemstat Clinical Trials	
21	Margaret Dugan, MD	44
22	Clarifying Questions from Subcommittee	49

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C O N T E N T S (continued)

AGENDA ITEM	PAGE
Open Public Hearing	75
Questions to Subcommittee and Discussion	80
Adjournment	115

P R O C E E D I N G S

(10:00 a.m.)

Call to Order

Introduction of Committee

1 DR. PAPP0: Good morning. First of all, I
2 would like to thank Dr. LaToya Bonner and all of
3 the very talented staff of the FDA for organizing
4 this virtual meeting and for taking the time to let
5 the panel become familiar with Adobe Connect. We
6 truly appreciate all your efforts to allow us to
7 help navigate this app and also to become familiar
8 with it and try to make this meeting run as
9 smoothly as possible.
10
11
12
13

14 Good morning and welcome. For media and
15 press, I would like to announce the FDA press
16 contact is Nathan Arnold. His email is
17 nathan.arnold@fda.hhs.gov, and his phone number is
18 301-796-6248. My name is Alberto Pappo, and I will
19 be chairing today's virtual meeting. I will now
20 call the morning session of the Pediatric Oncology
21 Subcommittee of the Oncologic Drugs Advisory
22 Committee to order. We will start by going down

1 the meeting roster and introducing ourselves.

2 We will use a call/respond method, and we
3 think that this will work better. I will call the
4 panel member's name to prompt the member to speak,
5 and then we will ask the panel members to introduce
6 themselves into the record. We will start with
7 David Mitchell.

8 (No response.)

9 DR. PAPPO: We will then go to --

10 MR. MITCHELL: Dr. Pappo, I'm sorry. I had
11 to unmute myself. I'm David Mitchell. I'm a
12 consumer representative, but more importantly, I'm
13 a cancer patient. I have multiple myeloma and in
14 continuous treatment, and have been for almost
15 10 years.

16 DR. PAPPO: Thank you. My name is Alberto
17 Pappo. I'm the chairperson of the pedsODAC. I'm a
18 pediatric oncologist, and I work at St. Jude.

19 Dr. Jonathan Cheng?

20 DR. CHENG: Good morning. Jonathan Cheng.
21 I'm the industry rep, and I'm with Merck
22 Pharmaceuticals.

1 DR. PAPPO: Dr. Catherine Bollard?

2 DR. BOLLARD: Hi. This is Dr. Catherine
3 Bollard. I'm the director for the Center for
4 Cancer and Immunology Research at Children's
5 National and at George Washington University here
6 in Washington, DC.

7 DR. PAPPO: Dr. Ira Dunkel?

8 DR. DUNKEL: Good morning. My name is Ira
9 Dunkel. I'm a pediatric neuro-oncologist at
10 Memorial Sloan Kettering Cancer Center in New York
11 City.

12 DR. PAPPO: Dr. Julia Glade Bender?

13 DR. GLADE BENDER: Good morning. My name is
14 Julia Glade Bender. I'm also at the Memorial
15 Sloan Kettering Cancer Center in New York City,
16 where I serve as the vice chair for clinical
17 research in the Department of Pediatrics.

18 DR. PAPPO: Dr. Richard Gorlick?

19 DR. GORLICK: Good morning, everybody. I'm
20 Richard Gorlick. I am the division head of
21 pediatrics at MD Anderson Cancer Center in Houston,
22 Texas.

1 DR. PAPPO: Dr. Theodore Laetsch?

2 DR. LAETSCH: Good morning. I'm Theodore
3 Laetsch. I'm a pediatric oncologist at UT
4 Southwestern Medical Center in Dallas.

5 DR. PAPPO: Donna Ludwinski?

6 MS. LUDWINSKI: Good morning, Donna
7 Ludwinski from Solving Kids' Cancer in New York.

8 DR. PAPPO: Dr. Andy Kolb?

9 DR. KOLB: Good morning. This is Andy Kolb.
10 I'm a director of the Nemours Center for Cancer and
11 Blood Disorders at Nemours/Alfred I. duPont
12 Hospital for Children in Delaware.

13 DR. PAPPO: Dr. Katherine Janeway?

14 DR. JANEWAY: Good morning. I'm Katie
15 Janeway. I'm a pediatric oncologist and sarcoma
16 expert at Dana-Farber and Boston Children's
17 Hospital in Boston, Massachusetts.

18 DR. PAPPO: Dr. Naynesh Kamani?

19 (No response.)

20 DR. PAPPO: Dr. Kamani, if you can hear us,
21 can you introduce yourself for the record?

22 DR. KAMANI: Good morning. Can you hear me?

1 DR. PAPPO: Yes.

2 DR. KAMANI: Hi. Good morning. I'm Naynesh
3 Kamani, pediatric immunologist and bone marrow
4 transplanter at Children's National Hospital,
5 Washington, DC and at George Washington University.

6 DR. PAPPO: Dr. Tobey MacDonald?

7 DR. MacDONALD: Good morning. This is Tobey
8 MacDonald. I'm director of pediatric
9 neuro-oncology at Emory University and Children's
10 Healthcare of Atlanta.

11 DR. PAPPO: Dr. Leo Mascarenhas?

12 DR. MASCARENHAS: Good morning. I'm Leo
13 Mascarenhas, the deputy director of the Cancer and
14 Blood Disease Institute at Children's Hospital Los
15 Angeles, where I also serve as the head of
16 oncology.

17 DR. PAPPO: Dr. William Parsons?

18 DR. PARSONS: Hi. This is Will Parsons.
19 I'm a pediatric oncologist and deputy director of
20 Texas Children's Cancer and Hematology Centers at
21 Baylor College of Medicine in Houston, Texas.

22 DR. PAPPO: Dr. Elizabeth Raetz?

1 DR. RAETZ: Good morning. This is Elizabeth
2 Raetz. I'm a pediatric oncologist and division
3 director at New York University.

4 DR. PAPPO: Dr. Nita Seibel?

5 DR. SEIBEL: Hi. This is Nita Seibel. I'm
6 a pediatric oncologist in the clinical
7 investigations branch of CTEP at the National
8 Cancer Institute.

9 DR. PAPPO: Dr. Malcolm Smith?

10 DR. SMITH: Good morning. I'm Malcolm Smith
11 and the pediatric oncologist in the Cancer Therapy
12 Evaluation Program at the National Cancer
13 Institute.

14 DR. PAPPO: Do we have more slides or are we
15 done with the slides?

16 (No response.)

17 DR. PAPPO: Can we have the next slide?

18 Dr. LaToya Bonner?

19 CDR BONNER: Good morning. This is LaToya.
20 I am the DFO for this meeting.

21 DR. PAPPO: Dr. Greg Reaman?

22 DR. REAMAN: Good morning. This is Gregory

1 Reaman. I'm the associate director for pediatric
2 oncology in the FDA's Oncology Center of
3 Excellence.

4 DR. PAPPO: Dr. Denise Casey?

5 DR. CASEY: Hi. Good morning. This is
6 Denise Casey. I am a pediatric oncologist and
7 acting team lead for sarcoma melanoma at FDA.

8 DR. PAPPO: Do we have any additional
9 slides?

10 (No response.)

11 DR. PAPPO: I think that's pretty
12 much -- well, I think we need a Dr. Leslie Doros.
13 Do we have a picture of her on the next slide?

14 DR. DOROS: Well, if we don't, hello. This
15 is Leslie Doros. I'm a pediatric oncologist at the
16 FDA in the Division of Oncology 3.

17 DR. PAPPO: Also Christine Lincoln from the
18 FDA?

19 MS. LINCOLN: Hi. I'm an attendant as well,
20 and I don't have a picture.

21 DR. PAPPO: Okay. I think that's pretty
22 much everybody.

1 Did I leave anybody out, and would you like
2 to introduce yourselves for the record?

3 (No response.)

4 DR. PAPPO: Okay. We will proceed then.

5 For topics such as those being discussed at
6 today's meeting, there are often a variety of
7 opinions, some of which are quite strongly held.
8 Our goal is that today's meeting will be a fair and
9 open forum for discussion of these issues and that
10 individuals can express their views without
11 interruption. Thus, as a gentle reminder,
12 individuals will be allowed to speak into the
13 record only if recognized by the chairperson. We
14 look forward to a productive meeting.

15 In the spirit of the Federal Advisory
16 Committee Act and the Government in the Sunshine
17 Act, we ask that the advisory committee members
18 take care that their conversations about the topic
19 at hand take place in the open forum of the
20 meeting.

21 We are aware that members of the media are
22 anxious to speak with the FDA about these

1 proceedings, however, FDA will refrain from
2 discussing the details of this meeting with the
3 media until its conclusion. Also, the committee is
4 reminded to please refrain from discussing the
5 meeting topic during breaks or lunch. Thank you.

6 We will proceed with the FDA introductory
7 remarks from Dr. Greg Reaman.

8 **Introductory Remarks - Gregory Reaman**

9 DR. REAMAN: Good morning. I just want to
10 also extend a welcome to the advisors and to our
11 pharma company sponsors for this virtual meeting of
12 the Pediatric Subcommittee of ODAC. This is the
13 first, and I appreciate your flexibility.

14 As in the past, our focus at this meeting is
15 to accelerate the timely development of novel
16 anticancer agents with potential applicability to
17 pediatric cancers. At present, the only
18 legislative initiative relevant to pediatric cancer
19 drug development is the Best Pharmaceuticals for
20 Children Act.

21 We will hear presentations and discuss two
22 products in early development under investigational

1 new drug applications in an attempt to maximize the
2 agency's authority under BPCA, which is a voluntary
3 program. Those products are SP-2577, seclidemstat,
4 the epigenetic modifier from Salarius, and
5 marizomib, a proteasome inhibitor from
6 Bristol-Myers Squibb.

7 The company presentations and expert panel
8 discussions and recommendations will serve to help
9 inform the review divisions of the Office of
10 Oncologic Diseases and the Office of Tissue and
11 Advanced Therapies in the Center for Biologics and
12 the Oncology Center of Excellence as to whether
13 written request for pediatric assessment should be
14 issued for these products based on the degree of
15 unmet clinical need and the potential public health
16 benefit to children; the extent of nonclinical data
17 and clinical data in adults to warrant and support
18 pediatric investigations; and a review of the
19 benefit-risk considerations.

20 So again, I would like to thank you for your
21 service to the committee and your service to the
22 agency. Thank you.

1 DR. PAPP0: Thank you very much, Dr. Reaman.
2 Dr. LaToya Bonner will read the Conflict of
3 Interest Statement for the meeting.

4 **Conflict of Interest Statement**

5 CDR BONNER: Good morning. The Food and
6 Drug Administration is convening today's meeting of
7 the Pediatric Oncology Subcommittee of the
8 Oncologic Drug Advisory Committee under the
9 authority of the Federal Advisory Committee Act,
10 FACA, of 1972.

11 With the exception of the industry
12 representative, all members of the committee and
13 temporary voting members of the subcommittee are
14 special government employees or regular federal
15 employees from other agencies and are subject to
16 federal conflict of interest laws and regulations.

17 The following information on the status of
18 the subcommittee's compliance with federal ethics
19 and conflict of interest laws, covered by but not
20 limited to those found at 18 U.S.C. Section 208, is
21 being provided to participants in today's meeting
22 and to the public. FDA has determined that members

1 of the committee and temporary voting members of
2 the subcommittee are in compliance with federal
3 ethics and conflict of interest laws.

4 Under 18 U.S.C. Section 208, Congress has
5 authorized FDA to grant waivers to special
6 government employees and regular federal employees
7 who have potential financial conflicts when it is
8 determined that the agency's need for a special
9 government employee's services outweighs his or her
10 potential financial conflict of interest or when
11 the interest of a regular federal employee is not
12 so substantial as to be deemed likely to affect the
13 integrity of the services which the government may
14 expect from the employee.

15 Related to the discussions of today's
16 meeting, members of the committee and temporary
17 voting members of the subcommittee have been
18 screened for potential financial conflicts of
19 interests of their own as well as those imputed to
20 them, including those of their spouses or minor
21 children and, for the purposes of 18 U.S.C.
22 Section 208, their employers. These interests may

1 include investments; consulting; expert witness
2 testimony. contracts, grants, CRADAs; teaching,
3 speaking, writing; patents and royalties; and
4 primary employment.

5 For today's agenda, information will be
6 presented regarding pediatric development plans for
7 two products that are in the development for an
8 oncology indication. The subcommittee will
9 consider and discuss issues relating to the
10 development of each product for pediatric use and
11 provide guidance to facilitate the formulation of
12 written requests for pediatric studies if
13 appropriate. The product under consideration for
14 this session is SP-2577, presentation by Salaris
15 Pharmaceuticals, Incorporated.

16 This is a particular matters meeting during
17 which specific matters related to SP-2577 will be
18 discussed. Based on the agenda for today's meeting
19 and all financial interests reported by the
20 committee members and temporary voting members,
21 conflict of interest waivers have been issued in
22 accordance with 18 U.S.C. Section 208(b)(3) to

1 Drs. Ira Dunkel, Julia Glade Bender, Richard
2 Gorlick, Theodore Laetsch, and Leo Mascarenhas.

3 Dr. Dunkel's waiver involves consulting
4 interest with three companies for which he received
5 remuneration between \$0 to \$5,000 per year from two
6 companies and between \$10,001 and \$25,000 per year
7 from a third company.

8 Dr. Glade Bender's waiver involves her
9 employer's contract for a study of SP-2577 funded
10 by Salarius Pharmaceuticals.

11 Dr. Gorlick's waiver involves his employer's
12 contract for a study of SP-2577 sponsored by
13 Salarius Pharmaceuticals and funded by the National
14 Pediatric Cancer Foundation.

15 Dr. Laetsch's waiver involves three of his
16 employer's research contracts. One is funded by
17 the Children's Oncology Group; the second is funded
18 by the Neuroblastoma and Medulloblastoma
19 Translational Research Consortium; and the third is
20 funded by Eisai.

21 Dr. Mascarenhas' waiver involves two of his
22 employer's research contracts. One is a study of

1 SP-2577 sponsored by Salarius Pharmaceuticals and
2 funded by the National Pediatric Cancer Foundation,
3 and the other is a study funded by AstraZeneca.

4 The waivers allow these individuals to
5 participate fully in today's deliberations. FDA's
6 reasons for issuing the waivers are described in
7 the waiver documents, which are posted on the FDA's
8 website at [http://www.fda.gov/advisorycommittees/
9 committeesmeetingmaterials/drugs/default.htm](http://www.fda.gov/advisorycommittees/committeesmeetingmaterials/drugs/default.htm).

10 Copies of the waivers may be obtained by
11 submitting a written request to the agency's
12 Freedom of Information division. The address is
13 5630 Fishers Lane, Room 1035, Rockville, Maryland,
14 20857, or requests may be sent via fax to
15 301-827-9267. For the record, Dr. Steven DuBois
16 has self-recused from participating in this session
17 of the meeting.

18 To ensure transparency, we encourage all
19 standing committee members and temporary voting
20 members to disclose any public statement that they
21 have made concerning the product at issue. With
22 respect to FDA's invited industry representative,

1 we would like to disclose that Dr. Jonathan Cheng
2 is participating in this meeting as a nonvoting
3 industry representative acting on behalf of
4 regulated industry. Dr. Cheng's role at this
5 meeting is to represent industry in general and not
6 any particular company. Dr. Cheng is employed by
7 Merck.

8 We would like to remind members and
9 temporary voting members that if the discussions
10 involve any other products or firms not already on
11 the agenda for which an FDA participant has a
12 personal or imputed financial interest,
13 participants need to exclude themselves from such
14 involvement, and their exclusion will be noted for
15 the record. FDA encourages all participants to
16 advise the subcommittee of any financial
17 relationships that they may have with the firm at
18 issue. Thank you.

19 DR. PAPPO: Thank you very much, Dr. Bonner.

20 Both the Food and Drug Administration and
21 the public believe in a transparent process for
22 information gathering and decision making. To

1 ensure such transparency at the advisory committee
2 meeting, FDA believes that it's important to
3 understand the context of an individual's
4 presentation.

5 For this reason, FDA encourages all
6 participants, including the applicants non-employee
7 presenters, to advise the committee of any
8 financial relationships that they may have with the
9 firm at issue such as consulting fees, travel
10 expenses, honoraria, and interest in the applicant,
11 including equity interest and those based upon the
12 outcome of the meeting.

13 Likewise, the FDA encourages you at the
14 beginning of your presentation to advise the
15 committee if you do not have any such financial
16 relationships. If you choose not to address this
17 issue of financial relationships at the beginning
18 of your presentation, it will not preclude you from
19 speaking.

20 We will now proceed with Salarius
21 Pharmaceuticals' presentation.

22 (Pause.)

1 DR. PAPPO: Are we able to start the
2 presentation?

3 CAPT WAPLES: Hi. Good morning, sir. We
4 are working on it.

5 MR. ARTHUR: This is David Arthur, CEO of
6 Salarius. We prerecorded the presentation and
7 submitted it. I believe we're looking for the
8 committee to begin the recording.

9 CAPT WAPLES: Yes, sir. That is correct.
10 We're working on bringing that up.

11 (Pause.)

12 [Salarius recording played.]

13 **Industry Presentation - David Arthur**

14 MR. ARTHUR: Good morning. I'm David
15 Arthur, CEO of Salarius Pharmaceuticals, and on
16 behalf of the entire Salarius team, I'd like to
17 thank the committee for inviting us to review the
18 seclidemstat development plan. We are looking
19 forward to today's discussion. Joining me today as
20 presenters are Dr. Bruce McCreedy, chief scientific
21 officer; Dr. Damon Reed, principal investigator of
22 our ongoing Ewing sarcoma clinical trial; and Dr.

1 Margaret Dugan, senior medical advisor.

2 Over the past few years, we have achieved
3 several development milestones, including orphan
4 drug designation; rare pediatric disease
5 designation; IND activation; initial enrollment in
6 both of our ongoing clinical trials; and most
7 recently in December of last year, fast-track
8 designation. These milestones all support the
9 ongoing development of seclidemstat for the
10 treatment of relapsed or refractory Ewing's
11 sarcoma.

12 Why are we here? As every member of the
13 committee knows, Ewing sarcoma is a devastating
14 disease predominantly affecting children and young
15 adults.

16 [Overlap of recording and live voice.]

17 MR. ARTHUR: This is David Arthur, CEO of
18 Salarius. I do not believe [indiscernible] can
19 hear the audio associated with the presentation.

20 DR. PAPPO: Correct.

21 [Salarius recording continued.]

22 MR. ARTHUR: Salarius is currently

1 completing dose escalation, and at this moment in
2 time, input and feedback from the committee could
3 be pivotal in the development of this potential new
4 treatment for patients, patients that truly need
5 new treatments the most.

6 We believe preclinical data supports
7 pursuing a Ewing sarcoma indication, and as
8 mentioned, we are completing dose escalation and
9 will then begin dose expansion by treating a larger
10 group of patients at the maximum tolerated dose.
11 We are currently exploring potential tumor
12 engagement and efficacy markers.

13 We are seeking committee feedback on how
14 best to identify efficacy signals in our clinical
15 program. The current study population is generally
16 heavily pretreated with high tumor load, and
17 unfortunately the patients are progressing.

18 We believe epigenetic therapies require time
19 for epigenetic reprogramming, and we want to ensure
20 that efficacy in this population is identified so
21 that we can continue to quickly develop
22 seclidemstat for these patients in need.

1 In addition, we would also like the
2 committee's input on innovative trial designs that
3 support the identification of efficacy signals and
4 support the overall seclidemstat development
5 program. Salarius is committed to developing
6 seclidemstat for patients in need and look forward
7 to the committee's input on how to optimize this
8 process.

9 I'd like to now introduce Dr. Bruce McCreedy
10 to review mechanism of action, our design
11 rationale, and preclinical data.

12 **Industry Presentation - Bruce McCreedy**

13 DR. MCCREEDY: Thank you, David.

14 Epigenetic enzymes represent attractive
15 targets for cancer therapeutics given their role in
16 regulation of gene expression. These enzymes can
17 modify DNA and histones, resulting in changes to
18 chromatin structure to a densely packed closed
19 state which is non-permissive for transcription or
20 to a relaxed open state, which is permissive for
21 transcription.

22 In addition, many epigenetic enzymes

1 associate with repressive or activating protein
2 complexes to affect regulation of gene expression.
3 Overactivity of epigenetic enzymes can result in
4 changes to the normal transcriptional balance and
5 lead to cancer development and progression as a
6 result of upregulation of genes associated with
7 tumor growth and downregulation of tumor suppressor
8 genes. Drugs that inhibit epigenetic enzyme
9 activity can help treat cancer by reversing this
10 regulation of gene expression and restoring a
11 normal transcriptional state.

12 LSD1 is an epigenetic enzyme that affects
13 gene transcription via its FAD-dependent enzymatic
14 domain, demethylates mono, and dimethyl histone 3
15 lysine 4 and 9 [indiscernible], thereby modifying
16 chromatin structure and access to transcriptional
17 machinery.

18 In addition, LSD1 can affect repression and
19 activation of transcription by interacting with
20 various activating and repressive protein complexes
21 via its tower domain. LSD1 activity is required
22 for normal hematopoiesis, maintenance of stemness

1 and differentiation, as well as roles of cell
2 motility, epithelial mesenchymal transition, and
3 autophagy.

4 Overexpression of LSD1 is associated with
5 tumorigenesis and disease progression as a result
6 of both its enzymatic histone demethylase activity
7 and interactions with various transcriptional
8 regulatory protein complexes. High levels of tumor
9 associated with LSD1 expression is associated with
10 a poor prognosis.

11 First generation LSD1 inhibitors bind
12 irreversibly at a site in the catalytic domain and
13 prevent binding of the required co-factor FAD.
14 Although potent inhibition develops within
15 enzymatic activity is achieved, these compounds are
16 associated with hematologic toxicity, mostly
17 neutropenia and thrombocytopenia.

18 In addition to first generation,
19 irreversible inhibitors do not inhibit many of the
20 scaffolding of protein-protein interaction between
21 LSD1 and various transcriptional co-regulatory
22 protein complexes.

1 Shown on the right, SP-2577, seclidemstat,
2 is a first-in-class reversible inhibitor, an LSD1,
3 that binds at a novel site within the enzymatic
4 domain. This novel and reversible binding may
5 explain why SP-2577 demonstrates more extensive
6 inhibition of LSD1 scaffolding protein interaction,
7 as well as the decreased risk of hematologic
8 toxicity.

9 LSD1 can remove both transcriptionally
10 permissive and repressive histone marks. The
11 picture in the left panel of this slide with
12 seclidemstat, like other LSD1 inhibitors currently
13 in development, inhibits LSD1 demethylation of mono
14 and dimethyl H3K9 to prevent the activation of
15 previously silenced genes.

16 Inhibition of LSD1 enzymatic activity by
17 seclidemstat in the PC3 prostate cancer cell
18 results in increased repressive methyl marks on
19 histone 3 lysine 9. However, due to its unique
20 binding site and reversible binding, seclidemstat
21 is also able to inhibit LSD1 scaffolding activity
22 with DNA binding proteins and regulatory complexes

1 such as transcriptional co-regulators that are
2 associated with oncogenesis.

3 Shown on the right side of the slide is
4 seclidemstat's ability to inhibit association of
5 LSD1 with the androgen receptor to prevent
6 activation of androgen receptor target genes in the
7 LNCaP prostate cancer cell line.

8 Ewing sarcoma is driven by a fusion
9 oncoprotein that results from chromosomal
10 translocation between EWS and ETS gene family
11 members such as ERG and FLI1. In approximately 90
12 percent of cases, a t(1122) translocation results
13 in production of EWS/FLI1 fusion oncoprotein.
14 EWS/FLI1 fusion protein is a transcription factor
15 that interacts with coactivators and corepressors
16 that may also recruit LSD1 to drive the activation
17 of tumor growth gene and deactivation of tumor
18 suppressor gene. Ewing sarcoma cells highly
19 express LSD1 and are dependent on LSD1 ne activity
20 for survival.

21 Seclidemstat inhibits the growth of the
22 Ewing sarcoma cells by disrupting LSD1 association

1 with co-activators and corepressors that act in
2 concert with the EWS/FLI1 oncoprotein to promote
3 transcriptional genes that are associated with
4 oncogenesis or repress the expression of tumor
5 suppressor genes.

6 Shown on the right side of this slide is the
7 impact and treatment of A673 Ewing sarcoma cells
8 with SP-2509. This was the first-generation
9 compound that is structurally similar to SP-2577,
10 seclidemstat. Cells are treated 2509 vehicle or
11 control sh-RNA or an sh-RNA targeted EWS/FLI mRNA.
12 As we can see from the heat map of upregulated and
13 downregulated genes, treatment with SP-2509 results
14 in the reversal of many up- and downregulated genes
15 that are driven by EWS/FLI activity in much the
16 same manner as a knock down of EWS/FLI protein
17 level despite targeted sh-RNA.

18 The inset to the right of the heat map shows
19 that A673 cells that highly express the EWS/FLI
20 oncoprotein are more sensitive to growth inhibition
21 by SP-2509 than are cells that show little or no
22 expression of EWS/FLI protein. SP-2577 shows

1 antiproliferative activity against a panel of Ewing
2 sarcoma cell lines with IC50 values ranging between
3 185 to 1269 nanomolars. In the SK-N-MC mouse
4 xenograft model of Ewing sarcoma, SP-2577 shows
5 potent tumor growth inhibition that results in a
6 significant increase in survival and complete cures
7 in 80 percent of treated animals.

8 Given seclidemstat's proposed mechanism of
9 action in Ewing sarcoma, additional sarcomas are of
10 interest for future clinical trials because they
11 either share a similar translocation to EWS/FLI1
12 that interacts with LSD1 and/or has elevated LSD1
13 expression and are sensitive to LSD1 inhibition.

14 These sarcomas that affect pediatric
15 populations include desmoplastic small round cell
16 tumor, which often results in the translocation
17 with EWS/WT1; myxoid liposarcoma, which includes
18 translocations between EWS/CHOP as well as
19 FUS/CHOP; as well as rhabdomyosarcoma and
20 osteosarcoma, which display an increased level of
21 LSD1 expression and rely on LSD1 activity for
22 proliferation and colony formation.

1 Now, I'd like to introduce Dr. Damon Reed
2 from the Moffitt Cancer Center.

3 **Industry Presentation - Damon Reed**

4 DR. REED: Thank you, Bruce.

5 I'm Damon Reed. I'm an associate professor
6 and I'm the principal investigator for the
7 seclidemstat phase 1 trial. Ewing sarcoma is
8 relatively rare amongst cancer but very common in
9 the pediatric age range with 400 new patients
10 diagnosed every year with a median age firmly in
11 the pediatric space of 15 years of age. Three
12 quarters of patients present with localized disease
13 and a quarter percent with metastatic disease.

14 All of these patients are treated with a
15 standard of care up front of 29 weeks of
16 chemotherapy, 35 inpatient days, and surgery or
17 radiation for local control. These therapies can
18 lead to cardiotoxicity, secondary cancer, and other
19 morbidityes.

20 There has been some improvement by
21 intensifying therapy and adding more drugs with
22 Ewing sarcoma over the decades but no improvement

1 to metastatic survival. Unfortunately, relapsed
2 disease mirrors this poor survival curve shown in
3 metastatic Ewing sarcoma on the next slide.

4 About a third of patients will relapse with
5 their disease and they have a very poor outcome,
6 less than 10 percent long-term survival. There are
7 no FDA-approved agents for relapsed Ewing sarcoma,
8 and this relapsed Ewing sarcoma is an area of unmet
9 need with it being the third most common tumor
10 enrolled on the pedi-MATCH trial, which is
11 available for patients who don't have other
12 clinical trial options.

13 So while there are relapsed regimens, there
14 is no standard of care for relapsed Ewing sarcoma,
15 and this table shows many of the regimens that are
16 used. There is very little prospective evidence
17 with much of this borrowed from single institution
18 studies with few patients, and there's no published
19 randomized evidence comparing these regimens.
20 While there are responses in these relapsed
21 regimens, complete responses are very rare.

22 It is in this context of poor standard of

1 care for relapsed Ewing sarcoma and poor outcomes
2 that led to the phase 1 trial that we're conducting
3 with seclidemstat as the first-in-human trial in
4 Ewing sarcoma.

5 I'm proud that our phase 1 trial has
6 correlates to advance the science of this disease
7 as relapsed Ewing sarcoma is poorly understood, and
8 these include cell-free DNA looking for digital
9 droplet PCR for the Ewing sarcoma translocation and
10 other novel technologies to look for a biomarker of
11 tumor in the blood; circulating tumor cells as well
12 to look for Ewing sarcoma cells, and other
13 biomarkers such as lactate dehydrogenase,
14 pharmacodynamic biomarkers like hemoglobin F
15 concentrations which may arise with LSD1
16 inhibition; and in dose expansion, serial frozen
17 biopsies required at screenings, cycle 2, and at
18 the end of therapy to evaluate seclidemstat's
19 effect and resistance.

20 In this rare relapsed population of Ewing
21 sarcoma, a historical cohort should be considered
22 with ongoing work to help with threshold setting

1 and event-free survival bars, such as presented by
2 Dr. Angie Collier with the relapsed Children's
3 Oncology Group phase 2 studies of 12 percent point
4 estimate for 6-month EFS; and the ongoing rEECur
5 trial that will add prospective evidence to this
6 response rate could also be considered.

7 Now I'd like to introduce Dr. Margaret
8 Dugan.

9 **Industry Presentation - Margaret Dugan**

10 DR. DUGAN: Thank you, Damon.

11 Good morning. I will be presenting an
12 update on the early clinical program of
13 seclidemstat in Ewing sarcoma. The first-in-human
14 phase 1 study is being conducted in patients with
15 relapsed refractory Ewing sarcoma.

16 As with all phase 1 studies, the primary
17 objective is to evaluate the safety and
18 tolerability of single-agent seclidemstat across
19 multiple escalating doses, administered as a
20 75-milligram tablet strength in the fasted state as
21 a BID dosing regimen given daily. Secondary
22 objectives include determination of MTD;

1 characterization of PK; evaluation of the effect of
2 food; and preliminary antitumor activity in these
3 patients.

4 As Damon has previously stated, exploratory
5 objectives include assessment of cell-free DNA,
6 circulating tumor cells and tumor tissue for
7 pharmacodynamic markers of disease burden, tumor
8 response, and drug effect. Cell-free DNA will be
9 analyzed to quantify the EWS/ETS translocations.
10 Circulating tumor cells will be quantified and also
11 assessed for gene expression profiles. Tumor
12 biopsies will be assessed for genome-wide
13 expression patterns, mutational profiles, as well
14 as LSD1 protein levels.

15 Looking at the key study eligibility,
16 patients must have a histologic diagnosis of Ewing
17 sarcoma that is refractory or recurrent, including
18 at least one prior course of therapy, which must
19 have contained a camptothecin based regimen, or it
20 was contraindicated, or the patient declined such
21 treatment. Patients are at least 12 years of age
22 and at least 40 kilograms in weight. Patients must

1 have a good performance status and radiographic
2 evidence of measurable disease for dose-expansion
3 patients.

4 This phase 1 study is enrolling on to seven
5 dose-escalation steps starting at 75 milligrams to
6 1500 milligrams BID from eight U.S. sites. The
7 study began with an accelerated dose-escalation
8 design in which single patient cohorts were
9 enrolled until a drug-related grade 2 or higher
10 adverse event or a DLT was observed in cycle 1, at
11 which point a classic 3-plus-3 design started
12 enrolling at least three patient cohorts.

13 The dose escalation will stop upon
14 observation of 2 DLTS during the first cycle in a
15 cohort of 3 to 6 patients or when the
16 1500-milligram BID dose level has been determined
17 to be safe. This will define either the maximum
18 tolerated dose or the maximum acceptable dose; then
19 at that dose, a total of 20 patients will be
20 enrolled to further define the safety and
21 preliminary antitumor activity of seclidemstat.

22 This study is currently enrolling onto

1 1200-milligram BID dose level. Of the 16 patients
2 enrolled as of our data cutoff of December 2019,
3 the median age was 25 years with 88 percent of
4 patients being between 18 and 68 years old; 63
5 percent were male and 69 percent had good
6 performance status, 90 or higher.

7 The majority of patients had surgery and/or
8 radiation therapy; 81 percent had a prior
9 camptothecin-containing regimen; 69 percent had
10 received three or more prior regimens. All
11 patients had a gene rearrangement of EWSR1 as per
12 local assessment. Although not an entry
13 requirement, the majority of patients had
14 measurable disease at baseline.

15 Sites of metastases are typical for this
16 patient population. The median time from initial
17 diagnosis to study drug was 4.2 years with the
18 majority of patients being two or more years from
19 their initial diagnosis.

20 Overall, this patient population represents
21 a heavily pretreated group of Ewing sarcoma
22 patients. Cycle 1, single-dose pharmacokinetics

1 have been assessed in 13 evaluable patients treated
2 at doses of 75 to 900 milligrams BID. Under
3 fasting conditions, a proportional and linear
4 increase in AUC and Cmax has been observed. The
5 half-life is approximately 5 to 8 hours.

6 Using PK modeling at 900 milligrams BID,
7 exposure is expected to be above 1000 nanograms per
8 mL for approximately 16 to 20 hours per day, while
9 at 1200 milligrams BID, exposure is expected to be
10 above that level for the full day. 1000 nanograms
11 per mL represents the expected efficacious
12 concentration based on preclinical studies.

13 In conclusion, dose escalation continues at
14 the highest doses to define the MTD or MAD, and at
15 clear dose levels, seclidemstat is safe and
16 tolerable. PK demonstrates dose proportionality
17 with sustained exposure for up to 24 hours at these
18 higher doses. The study population represents an
19 advanced heavily pretreated group of Ewing sarcoma
20 patients with extensive disease involvement who
21 define an unmet medical need.

22 Seclidemstat is a novel, selective,

1 reversible LSD1 inhibitor developed to address this
2 unmet medical need which selectively targets the
3 underlying mechanism of disease to improve patient
4 outcomes. Salarius continues its phase 1 studies
5 with a commitment to the pediatric population and
6 are seeking guidance on the appropriate studies for
7 a proposed pediatric study request.

8 This concludes our presentation. We are happy to
9 take your questions.

10 **Clarifying Questions from Subcommittee**

11 DR. PAPPO: Thank you very much. We will
12 now take clarifying questions for Salarius
13 Pharmaceuticals. Please use the raised-hand icon
14 to indicate that you have a question. Please
15 remember to put your hand down after you have asked
16 your question, and please remember to state your
17 name for the record before you speak. It would be
18 helpful to acknowledge the end of your question
19 with a thank you and end your follow-up question
20 with a "that is all for my questions" so we can
21 move on to the next panel.

22 I see Julia Glade Bender.

1 (No response.)

2 DR. PAPP0: Julia, would you like to ask a
3 question?

4 DR. GLADE BENDER: Yes, please.

5 Good morning. This is Julia Glade Bender
6 from Memorial Sloan Kettering. Thank you very much
7 for the presentation this morning. I was wondering
8 two things. The first question is, why was a prior
9 camptothecin regimen required for study entry for
10 the phase 1 trial? The second question is, if you
11 could please review any preclinical data that you
12 have using seclidemstat in combination with
13 chemotherapy. Thank you.

14 DR. DUGAN: This is Margaret Dugan. I'm the
15 senior medical advisor for Salarius, and I can take
16 the first part of that question. At the time, this
17 was a first-in-human, phase 1 study for patients
18 with Ewing sarcoma, and it was felt that they must
19 have had failed a standard-of-care therapy.

20 I'd like to ask Aundrietta to answer the
21 second part of the question. Dr. Duncan?

22 DR. DUNCAN: Hi. This is Aundrietta Duncan,

1 associate director of nonclinical development.

2 Thank you, Dr. Dugan.

3 We do have some preclinical data in
4 combination with chemotherapy with seclidemstat.
5 Those data were actually generated by Dr. Damon
6 Reed who is on the call, so I would like to pass
7 this question along to him.

8 DR. REED: Okay. Thank you very much, and
9 excellent questions, both of them.

10 Technically, on this current trial, just to
11 answer number one, camptothecin is not required,
12 but a discussion regarding that is required. When
13 we designed the trial, we wanted to make sure that
14 patients at least knew of other therapies, so we
15 kind of built that into the inclusion criteria.
16 But technically at this moment and from the
17 beginning of the trial, patients could have failed
18 standard first-line therapy in Ewing sarcoma and
19 gone directly to seclidemstat, but so far that has
20 not occurred.

21 In terms of the preclinical, the second
22 question, we have studied in vitro across multiple

1 cell lines in Ewing sarcoma, and we do this guided
2 by a paper from 2013 that suggests using clinically
3 achievable doses and the presence of protein
4 concentrations that reflect protein binding, and
5 for durations of exposure that would match the
6 human PK.

7 While we didn't have that for seclidemstat,
8 we did test this agent along with some others and,
9 in general, seclidemstat both combined well with
10 synergy or additive effects across a broad spectrum
11 of different traditionally used therapies like
12 SN-38, the derivative of irinotecan and topotecan,
13 or 4-HC, a cyclophosphamide derivative, or
14 etoposide. So in general, seclidemstat shows
15 promising in vitro combination activity in Ewing's
16 sarcoma cell lines.

17 DR. GLADE BENDER: Thank you. That's all my
18 questions.

19 DR. PAPPO: Next is Dr. Katie Janeway.

20 DR. JANEWAY: Yes. I would like to thank
21 the presenters for the very informative
22 presentation. I am wondering if you are able to

1 share any information about toxicity at this point.

2 Thank you.

3 DR. DUGAN: Yes. Thank you. This is
4 Margaret Dugan. The current safety profile does
5 not define any prohibitive toxicity, and there are
6 no treatment-related study discontinuations or
7 deaths. The overall frequency of treatment-
8 emergent adverse events related to seclidemstat of
9 grade 3 or 4 is very low. We have seen one DLT of
10 nausea, vomiting, and abdominal pain judged to be
11 treatment related, and the trial continues in its
12 dose-escalation phase at the 1200-milligram dose.

13 DR. JANEWAY: This is Katie Janeway with
14 just one follow-up question. Are you able to share
15 the lower grade more frequent study-related
16 toxicities?

17 DR. DUGAN: At this time, we are continuing
18 the dose-escalation phase, and it is our intent to
19 present the completed data at a congress venue,
20 scientific congress venue.

21 DR. JANEWAY: Thank you very much. That's
22 all for me.

1 DR. PAPP0: Dr. Richard Gorlick?

2 DR. GORLICK: It's Richard Gorlick. Thank
3 you for the presentation. I have a couple of
4 questions. One, I know in the context of a phase 1
5 trial it's very hard to ascertain measures of
6 activity, but any comments on measures of activity,
7 particularly for more novel measures, perhaps like
8 your circulating tumor DNA endpoint.

9 From there, a question about the EWS
10 translocation; did you ascertain the binding
11 partner or was this just one group of
12 rearrangements? Then specifically on preclinical
13 testing -- I'm sorry, I'm covering a lot of
14 ground -- can you talk about combinations with
15 other epigenetic modifiers or other novel agents
16 such as trabectedin or lurbinectedin, just sort of
17 understanding the scope of the preclinical tests.

18 DR. DUGAN: Yes. Thank you. This is
19 Margaret Dugan, and I'll take the first part of the
20 question. Currently we are at cohorts 6 and 7 of
21 the possible dose-escalation cohorts, and we know
22 from our PK that we are starting to see, that the

1 exposures are lasting approximately 20 to 24 hours
2 at the dose that we're currently treating at.
3 Given the early nature of the phase 1 study, these
4 data are consistent with phase 1 studies in heavily
5 pretreated patients. We plan to complete the dose
6 escalation and then present the data at a
7 scientific venue.

8 I think that answers -- oh, in terms of
9 biomarkers, yes, we are doing an extensive
10 biomarker program with circulating pre-DNA CTCs.
11 The tumor tissue comes in at pre-and post-biopsies
12 when we get into dose expansion. We're not quite
13 there yet. We are now starting to look at all of
14 these, so we're assessing the data currently.

15 I would like to ask Dr. Bruce McCreedy to
16 answer the question about the EWS/FLI.

17 DR. MCCREEDY: Thank you, Margaret.

18 This is Bruce McCreedy. I'm the acting
19 chief science officer for the company. As you
20 know, LSD1 is critical to Ewing sarcoma cell
21 survival, and a number of studies have demonstrated
22 LSD1 co-localizes and interacts with

1 transcriptional co-regulators that have been shown
2 to functionally interact with EWS/FLI to modulate
3 enhancer function and reshape gene expression
4 patterns in Ewing sarcoma.

5 We haven't identified a specific binding
6 partner, but what we do know is that seclidemstat
7 inhibits the ability of LSD1 to efficiently
8 colocalize with some of the same coregulators such
9 as NuRD, which is frequently interacting with
10 EWS/FLI.

11 We also know that when we study the binding
12 of SP-2577 in Ewing's sarcoma cells and tissue
13 culture, we see decreases in the levels of EWS/FLI
14 protein, which again we assign to the fact that
15 we're inhibiting these co-localizations and that
16 this protein is likely, therefore, being
17 ubiquitinated and pretty similarly degraded with
18 those Ewing sarcoma cell lines.

19 DR. DUGAN: I think for the third part of
20 your question regarding preclinical testing, you
21 asked about certain specific agents. I'd like to
22 ask. Dr. Aundrietta Duncan to answer that question

1 for combinations with seclidemstat other than what
2 we discussed earlier for chemotherapy.

3 DR. DUNCAN: Thank you, Margaret.

4 This is Aundrietta Duncan again. Thank you,
5 Dr. Gorlick for the question. It's a very good
6 question. As mentioned in the talk, epigenetic
7 factors do not work in isolation, but rather they
8 work in concert with many proteins such that
9 impaired or altered activity with one protein may
10 lead to a functional dependency upon another.

11 We and others have evaluated a number of
12 indications for additive and synergistic activity
13 of LSD1 inhibition with other epigenetic
14 inhibitors, and there are some preclinical data
15 with HDAC inhibitors and DNMT inhibitors that lead
16 us to believe that this could be a beneficial
17 combination therapy. I have some slides that I
18 could provide more data if you would like. Thank
19 you.

20 DR. GORLICK: If I'm allowed, I would just
21 ask one clarifying additional question, and that
22 would just be, do you expect this drug to work with

1 the EWS barium translocations? So a FLI is not the
2 binding partner. Do you expect the same level of
3 activity with this inhibitor?

4 DR. DUGAN: I'd like to ask Dr. Aundrietta
5 Duncan to answer that question. And before she
6 answers, I can say that we do have an advanced
7 phase 1 study that started after the Ewing sarcoma
8 in which we do allow non-Ewing sarcoma patients on,
9 and we've seen some prolonged treatment durations
10 in patients with desmoplastic small round-cell
11 tumor as well as myxoid liposarcoma. So we do know
12 that they do have the other translocations in
13 EWS/FLI.

14 I'd like to ask Aundrietta Duncan if there's
15 any preclinical data.

16 DR. DUNCAN: Yes. This is Aundrietta.

17 Yes, Dr. Gorlick, we do think that LSD1
18 inhibition may be efficacious in other EWS
19 fusion-driven diseases. Some of the sarcomas, as
20 Margaret mentioned, that have these family gene
21 rearrangements are the DSRCT with the EWSR1-WT
22 rearrangements and myxoid liposarcoma with the

1 fused CHOP rearrangement.

2 There are some published data by third
3 parties with SP-2509, which you may remember from
4 the presentation is a first-generation analog of
5 2577. We've seen it has demonstrated efficacy in
6 both EWS-ERG fusion containing Ewing sarcoma cell
7 models, both in vitro and in vivo, as well as
8 activity in EWS-WT1 fusion containing DSRCT cell
9 lines. There's also some preliminary data
10 internally that reveals that there may be some
11 activity in EWS/ATF fusion-driven, clear-cell
12 sarcomas.

13 Does this answer your question?

14 DR. GORLICK: Yes, that's perfect. Thank
15 you very much.

16 DR. DUNCAN: You're welcome. Thank you.

17 DR. PAPPO: I'm going to allow two
18 additional questions. The next person is going to
19 be Dr. Greg Reaman and then Dr. Malcolm Smith, and
20 then we will stop the questions for the sponsor.

21 Greg?

22 DR. REAMAN: Thank you, Dr. Pappo.

1 A couple questions. Can you provide a
2 little bit of clarification on the nonclinical data
3 that led to your dose-escalation paradigm, the
4 starting dose on the escalation, and as I
5 understand, why the 1500-milligram maximum dose?

6 DR. DUGAN: Yes. Hi, Dr. Reaman. This is
7 Margaret Dugan. The starting dose for the
8 first-in-human studies was based upon the 28-day
9 tox studies in dogs and rats, again, using the
10 standard highest severely toxic dose and using the
11 one-sixth safety margin. The dose escalation
12 followed the accelerated titration design, allowing
13 dose doubling early on, and then with the
14 occurrence of a grade 2 AE slowed down and then
15 went to a 3-plus-3 design.

16 The 1500-milligram dose was put into the
17 study to begin with, in the protocol, and as
18 earlier stated, the PK, we're highly excited that
19 we do see, although given the short half-life, that
20 we are getting the exposures above 1000 nanograms
21 per mL starting at the 900-milligram dose. We're
22 currently at the 1200 and expect to see 24 hours

1 continuous exposure.

2 That level of activity, at 1000 nanogram per
3 mL, should provide submission tumor drug levels,
4 which we saw efficacy in preclinical in vitro and
5 in vivo models. In vitro studies were related to
6 seclidemstat's GR50, which ranged from
7 400 nanomolar to approximately 1 micromolar across
8 6 different Ewing sarcoma cell lines.

9 In addition, in the in vivo studies, we
10 observed tumor growth inhibition when plasma PK
11 levels ranged from 1 micromolar to 3 micromolar in
12 the Ewing xenograft models, so we expect that we
13 will not need to go higher than 1500 milligrams.

14 DR. REAMAN: Okay. Thank you. Then you
15 mentioned the increased LSD1 expression in sarcomas
16 other than Ewing, notably rhabdo and osteo. Are
17 there any nonclinical data demonstrating
18 antiproliferative effects of seclidemstat in those
19 diseases?

20 DR. DUGAN: I'd like to ask Dr. Duncan to
21 answer that question, please.

22 DR. DUNCAN: Thank you, Dr. Dugan.

1 This is Aundrietta Duncan. Yes, as
2 mentioned, non-Ewing sarcomas do exhibit some of
3 the highest LSD1 expressions across cancer types,
4 so that indicates that there may be a potential
5 dependence on LSD1 for proliferation. We have also
6 run some preclinical experiments in both
7 rhabdomyosarcoma and osteosarcoma with the PPTC.
8 This data shows clear evidence of activity of
9 SP-2577 in both of those to these indications.

10 DR. REAMAN: Okay. Thank you.

11 Can I just ask if you have a projection as
12 to what particular scientific venue or when you
13 might be presenting any clinical results from the
14 phase 1 study?

15 DR. DUGAN: This is Margaret Dugan. We
16 expect that we'll reach the MTD probably by early
17 fall, and then have the dose expansion enrolling
18 very quickly over the next 6 months. So whenever
19 the next cycle of oncology conferences that we can
20 meet, we absolutely will try to get it in as soon
21 as possible.

22 DR. REAMAN: Thank you.

1 DR. PAPPO: Malcolm?

2 DR. SMITH: Thank you, Alberto, and thanks
3 to the Salarius team for the presentation. My
4 question is about the statement in the slide deck
5 that drugs targeting epigenetic reprogramming take
6 time to demonstrate efficacy.

7 The published results are SP-2509, where
8 there's in vitro testing using 72-hour exposures
9 that demonstrate good concentration response
10 curves, suggesting a more rapid onset of action for
11 SP-2509 or a standard onset of action.

12 Furthermore, for SP-2509, induction of caspase 3
13 and 7 activity, which would be an early mark of
14 apoptosis, was evident within 15 hours of treatment
15 with SP-2509.

16 Given this rapid onset of apoptosis, what
17 would be the rationale for expecting delayed
18 responses rather than looking for responses at
19 standard periods after treatment initiation?

20 DR. DUGAN: Yes. I see your question in two
21 parts. One is referring to the data of SP-2509
22 from the in vitro study, and the second is the

1 expectation that epigenetic drugs do take time to
2 elicit efficacy. I'd like to ask Dr. Aundrietta
3 Duncan to answer the first question concerning our
4 data, and then perhaps Dr. Whetstine to answer
5 about the epigenetic time to response in general.

6 Dr. Aundrietta Duncan?

7 DR. DUNCAN: Hi. This is Aundrietta Duncan.
8 Could you clarify the question specifically about
9 the data? What is the question about the data
10 specifically?

11 DR. SMITH: Well, it was related to rather
12 than a delayed onset of activity, for example, like
13 you see with EZH2 inhibition, where responses
14 in vitro may take multiple days, there was
15 induction of caspase within 15 hours. So that's
16 suggesting a fairly rapid onset of action for SP-
17 2509, and that's linked to the idea that responses
18 in the clinic might be expected at standard times
19 after treatment initiation.

20 DR. DUNCAN: Yes, thank you. I believe that
21 I'm going to pass this question on to Dr. Daniela
22 Santiesteban, who is our director of research

1 development.

2 DR. SANTIESTEBAN: Thank you, Aundrietta.

3 This is Daniela Santiesteban. Yes, you're
4 right. More traditionally, epigenetic agents do
5 take time to show activity. The interesting fact
6 with SP-2577, as Dr. McCreedy mentioned, is that
7 we're not only affecting its enzymatic activities,
8 but also it's scaffolding properties for these
9 proteins or protein interaction. Due to that more
10 robust inhibition of LSD1, we often do see
11 activities sooner than traditionally just strictly
12 enzymatic inhibitors of LSD1.

13 That's just getting at the unique mechanism
14 and the unique way seclidemstat is inhibiting and
15 targeting LSD1. It's more than just enzymatic
16 activity. It's the scaffolding protein, which has
17 a more pronounced effect on cells.

18 DR. SMITH: So a more pronounced and more
19 rapid effect is what you're saying.

20 DR. SANTIESTEBAN: That's correct.

21 DR. SMITH: Thank you.

22 DR. MCCREEDY: This is Dr. McCreedy. I

1 might also add that we have seen, and others have
2 seen and published, that different cell lines under
3 different in vitro testing conditions will respond
4 more or less rapidly usually depending upon the
5 degree of dependency on LSD1 demethylase activity
6 versus scaffolding activities.

7 In fact, we and others have looked at quite
8 a large panel of cell lines and continue to do so.
9 In some cases, we can see responses, as you
10 mentioned, as early as 48 to 72 hours, and in other
11 cases we have to culture cells as long as 7 days.
12 So we also think it depends somewhat on the
13 specifics of the cell lines being tested in vitro
14 in terms of what is the timing to actually measure
15 and get growth inhibition response.

16 DR. SMITH: Right. But for Ewing sarcoma,
17 can you comment on whether the responses are
18 typically early as in the 2509 data?

19 DR. McCREEDY: With regard to the Ewing
20 sarcoma cell lines, such as 673, TC71, you're
21 correct. We can usually measure up to a 50 percent
22 growth inhibition within about 72 to 96 hours.

1 Some of that data has been published and presented
2 for TPTC, and we have some internal data as well.

3 We also are well aware that when you then
4 take this into in vivo models, some of these cell
5 lines are very responsive and some are less
6 responsive, and that may be the result of timing or
7 it may be the result or the need for a better
8 formulation that gives better exposure in vivo. So
9 it can be difficult to make that leap between
10 in vitro activity and in vivo activity.

11 DR. SMITH: Thank you.

12 DR. PAPPO: I see that Drs. Reaman and Smith
13 still have their hands up, so if they can lower
14 them down.

15 I've been told by Dr. Bonner that we may
16 have a couple of extra minutes because there is
17 only one speaker for the OPH session. So I see
18 that Ira Dunkel and Nita Seibel had their hands up
19 before, and then they put them down. I was
20 wondering if you have any additional questions that
21 have not been answered, Ira and Nita?

22 Ira, do you want to go first?

1 DR. DUNKEL: Hi. This is Ira Dunkel,
2 Memorial Sloan Kettering. I had a question about
3 the study design. If I understood correctly, there
4 was a mandatory research biopsy on study, and I'm
5 wondering if this is required for pediatric
6 patients, if this has been acceptable by the IRBs
7 for pediatric patients, and if this is required,
8 whether that may have affected the pediatric
9 accrual and explain why this is largely an adult
10 study. Thank you.

11 DR. DUGAN: Thank you. This is Margaret
12 Dugan. The pre- and post-treatment tumor biopsies
13 were to begin when we did the disease expansion
14 after we had defined the MTD or recommended phase 2
15 dose, and the mandatory nature of this was made
16 optional for those less than 18 years of age.

17 Does this answer your questions?

18 DR. DUNKEL: Yes.

19 DR. DUGAN: Okay. Thank you.

20 DR. DUNKEL: Thank you.

21 DR. PAPPO: And the final question, Nita?

22 DR. SEIBEL: Yes. Nita Seibel from the NCI.

1 I had two questions. First of all, do you know is
2 there any difference in the LSD1 expression in
3 Ewing's patients at the time of diagnosis versus
4 the time of relapse and in patients with localized
5 disease versus metastatic disease?

6 Then my second question is, in the briefing
7 document, it talked about the focus particularly on
8 relapse or testing once you see activity as a
9 single agent. I was just wondering about the
10 rationale behind that versus if you see activity of
11 the agent as a single agent in Ewing, why wouldn't
12 you consider taking it to the upfront setting such
13 as what COG did with AWS 1221, which was newly
14 diagnosed metastatic Ewing's sarcoma and doing a
15 phase 3 randomized study or even in the localized
16 setting. Thank you.

17 DR. DUGAN: Yes. Hi. This is Margaret
18 Dugan. We are absolutely planning to understand as
19 a single agent what we currently have with the dose
20 expansion to understand in the relapsed/refractory,
21 needing more than two prior lines of therapy to
22 look at the potential efficacy and safety in that

1 setting.

2 For further moving it along into the upfront
3 setting, what you've suggested, I think it will
4 depend on the activity level that we do see. This
5 is something that we wanted to ask, as David Arthur
6 had said in his opening statement, to understand
7 from the committee members what are the
8 possibilities in terms of efficacy endpoints so
9 that we don't miss a signal with our drug in Ewing
10 sarcoma; and what would be some innovative trial
11 designs in order to move the agent forward because
12 we believe that in Ewing sarcoma it is truly an
13 unmet medical need, and there still needs to be
14 some further advances in, as you suggested, the
15 upfront setting.

16 I'd like to ask. Dr. Damon Reed to comment
17 on the development in moving it into this upfront
18 setting.

19 DR. REED: Yes. Certainly we hope to
20 identify a signal of activity that would meet
21 everyone's threshold for studying this upfront, and
22 of course that is the long-range goal, is to

1 improve the care for newly diagnosed patients in
2 Ewing sarcoma.

3 I do believe that there's also the drug
4 development pathway and trying to identify that
5 signal, which matches a bit more the plan for a
6 relapsed, focused activities signal trial that
7 would follow the phase 1 towards creating that data
8 to show that this agent would have that sort of
9 activity to justify a randomized clinical trial,
10 which would be a high bar, especially for newly
11 diagnosed Ewing sarcoma patients who do quite well.

12 DR. SEIBEL: Not in the not in the
13 metastatic setting, right?

14 DR. REED: That definitely is a very good
15 point, Dr. Seibel. I agree that there would be
16 different thresholds of activity or signs of
17 activity that could be used and justified to use it
18 in any of the Ewing sarcoma settings of localized
19 upfront disease, metastatic upfront disease, first
20 relapse or second relapse, and beyond.

21 DR. PAPPO: Does that answer your question,
22 Nita?

1 DR. SEIBEL: Yes. And then I had asked
2 about the LSD1 expression levels, if they knew if
3 there was a difference in the different settings of
4 Ewing sarcoma.

5 DR. DUGAN: Right. I'd like to ask
6 Dr. Santiesteban to answer that question.

7 DR. SANTIESTEBAN: Yes. So just to clarify,
8 the question was the LSD1 expression and the
9 metastatic versus localized, and also in the
10 relapsed patients?

11 DR. SEIBEL: Yes, that's correct.

12 DR. SANTIESTEBAN: Yes, that's a great
13 question. Right now there's not a lot of data
14 across those different patient types. What we do
15 see in the data is that patient prognosis does
16 correlate with LSD1 expression levels with higher
17 LSD1 expression levels, the patients having poorer
18 patient prognosis. As Margaret mentioned, we will
19 be collecting biopsies during the dose expansion
20 and hope to gain more knowledge around your
21 question.

22 DR. MCCREEDY: This is Bruce McCreedy, if I

1 may, and we can address this in slide number 6,
2 James. This relates again to the mechanism of
3 action and how inhibition of LSD1 may be active in
4 Ewing sarcoma.

5 What you're seeing in the slide here is an
6 example of several different inhibitors, including
7 SP-2509, which is our analog of 2577 earlier
8 generation version. What this slide is showing is
9 that you can have very potent inhibition of the
10 enzymatic activity of LSD1, that is its demethylase
11 activity, and yet the cells themselves do not
12 undergo growth arrest.

13 When we see this with 2509, we believe that
14 this is because the inhibition in the activity that
15 we see -- and this is a 96-hour assay -- has to do
16 with 2509's tower domain interactions. We interact
17 with the tower domain of LSD1, and this prevents a
18 lot of its abilities to interact with other
19 co-regulatory protein complexes that are involved
20 with the EWS/FLI as well as EWS fusion protein.

21 I'd also like to ask Dr. Whetstine if he
22 would like to comment also on why, then, epigenetic

1 reprogramming may take more time before we can
2 actually visualize or see in the clinic responses
3 to drugs that work via a mechanism such as 2577.

4 DR. WHETSTINE: This is Jonathan Whetstine,
5 professor at Fox Chase Cancer Center and a
6 consultant on [indiscernible], as that's an expert
7 area of mine.

8 Going to the question that was asked in
9 regards to what Bruce just said, the time it takes,
10 it is twofold. One is if there's immediate
11 oncogenic dependency, you might see a robust
12 effect. At the same time, to reprogram the
13 epigenome, it can take time based on cell division.
14 So there are two levels that that can be at play,
15 and for several other epigenetic drugs that are out
16 there, that has been observed.

17 So you can have an immediate response, but
18 then also one has to take into account division
19 time and potentially the capacity and how that will
20 allow the epigenome or the structure around the DNA
21 of cells change. Thanks.

22 DR. PAPPO: Thank you.

1 Nita, does that answer all your questions?

2 DR. SEIBEL: Yes, thank you, Alberto.

3 **Open Public Hearing**

4 DR. PAPP0: Okay. If you still have your
5 hand raised, please lower it. We're going to move
6 on to the next portion of the meeting. Thank you
7 very much for the presenters and thank you very
8 much for asking these questions to the sponsor.

9 Both the FDA and the public believe in a
10 transparent process for information gathering and
11 decision making. To ensure such transparency at
12 the open public hearing session of the advisory
13 committee meeting, the FDA believes that it is
14 important to understand the context of an
15 individual's presentation.

16 For this reason, the FDA encourages you, the
17 open public hearing speaker, at the beginning of
18 your written or oral statement to advise the
19 committee of any financial relationship that you
20 may have related to the topics of this meeting.
21 Likewise, the FDA encourages you at the beginning
22 of your statement to advise the committee if you do

1 not have any such financial relationships. If you
2 choose not to address this issue of financial
3 relationships at the beginning of your statement,
4 it will not preclude you from speaking.

5 The FDA and this committee place great
6 importance in the open public hearing process. The
7 insights and comments provided can help the agency
8 and this committee in their consideration of the
9 issues before them. That said, in many instances
10 and for many topics, there will be a variety of
11 opinions. One of our goals today is for the open
12 public hearing to be conducted in a fair and open
13 way, where every participant is listened to
14 carefully and treated with dignity, courtesy, and
15 respect. Therefore, please speak only when
16 recognized by the chairperson. Thank you for your
17 cooperation.

18 Speaker number 1, your audio is connected
19 now. Will speaker number 1 begin and introduce
20 yourself? Please state your name and any
21 organization you're representing for the record.

22 DR. ZELDES: Good morning, and thank you for

1 the opportunity to speak today on behalf of the
2 National Center for Health Research. I am Dr. Nina
3 Zeldes, a senior fellow at the center. Our center
4 analyzes scientific and medical data to provide
5 objective health information to patients, health
6 professionals, and policymakers. We do not accept
7 funding from drug or medical device companies, so I
8 have no conflicts of interest.

9 We strongly support the FDA and committee's
10 goals to suggest recommendations for clinical
11 trials of treatments for pediatric cancers during
12 these meetings taking place over the next two days.
13 Where aggressive childhood cancers are often fatal,
14 it is essential to carefully study benefits and
15 risks to determine if the likely benefits of a
16 specific indication outweigh the risks.

17 My statement today is relevant to all the
18 drugs you're considering today and tomorrow. When
19 evaluating drugs for pediatric use, doses must be
20 scrutinized cautiously for children of different
21 ages and weights. Even when there are likely
22 benefits for children on average, it is important

1 to minimize risks whenever possible by determining
2 which children are most and least likely to
3 benefit. It is also important to consider whether
4 patient demographics affect the benefit and risk.

5 With this in mind, we strongly urge you to
6 recommend that all clinical trials should include
7 subgroup analyses for sex, race, and age. We
8 understand that this is difficult in rare diseases,
9 but at least some demographic differences are
10 likely to increase or reduce the risks or benefits.

11 Risk-benefit profiles should, when possible,
12 be assessed for each particular subgroup. For
13 example, with the known very serious adverse events
14 of MRZ, to be discussed later today, it is
15 important to determine which types of patients in
16 terms of demographics are most likely to benefit,
17 and in addition to targeting patients most likely
18 to benefit, are there other ways to mitigate risks.

19 One of the discussion questions for MRZ
20 addresses mitigating risks for pediatric patients.
21 We suggest that risk mitigation be considered for
22 all four drugs that will be discussed over the

1 course of these next two days. We understand the
2 desire to get new treatments to patients who
3 desperately need them as quickly as possible, but
4 it is important to make sure the clinical trials
5 are appropriately designed to clearly answer
6 questions of safety and efficacy.

7 Poorly designed trials, trials with too few
8 patients or too few patients representing key
9 demographic groups, or with poorly selected
10 endpoints do not provide clinicians and patients
11 the information that they need to make informed
12 decisions. Parents want to have hope for their
13 children, but no parent wants to subject their
14 child to treatments with horrible side effects
15 unless those treatments can eventually
16 significantly improve how long they live or their
17 quality of life.

18 Efforts to design the best possible clinical
19 trials and to protect patients who participate in
20 those trials, or who may eventually be prescribed
21 cancer drugs, are essential. Even if clinical
22 trials take a little longer but are more

1 informative and conclusive, they will in the long
2 run help more patients and harm fewer patients,
3 which is everyone's goal. Thank you.

4 **Questions to Subcommittee and Discussion**

5 DR. PAPPO: Thank you very much.

6 The open public hearing portion of this
7 meeting has now concluded and we will no longer
8 take comments from the public. The subcommittee
9 will now turn its attention to address the task at
10 hand, the careful consideration of the data before
11 the committee as well as the public comments. We
12 will now proceed with the charge and questions to
13 the subcommittee and panel discussions. After each
14 question is read, we will pause for any questions
15 or comments concerning its wording, then we will
16 open the questions for discussion.

17 DR. DOROS: Good morning. This is Leslie
18 Doris, FDA. Thank you, Salarius for a very
19 informative presentation.

20 For the pediatric ODAC panel members, we
21 have three discussion points today. Our first
22 discussion point is given that SP-2577 targets LSD1

1 and studies have demonstrated increased expression
2 of LSD1 and other tumor types, in addition to Ewing
3 sarcoma, please address other pediatric solid
4 tumors and hematologic malignancies in which there
5 is a biologic rationale for evaluation of its
6 activity.

7 DR. PAPPO: If there are no questions or
8 comments concerning the wording of the question, we
9 will now open the question to the discussion. I
10 think I was the first one to raise a hand, so I'll
11 go first if you don't mind.

12 I think that, personally, the data that was
13 presented on other tumors was a little bit weak.
14 The sponsor also mentioned that there was some
15 evidence of activity in the preclinical testing
16 program, but if you look at the briefing document,
17 they had 5 alveolar rhabdomyosarcoma models and
18 6 osteosarcoma models, and all they saw were
19 prolongation to event. There were no responses or
20 objective responses in any of their models.

21 I think that the data was a little bit
22 scanned. Also, I don't know how LSD1

1 overexpression really correlates with response or
2 clinical activity with the lack of any functional
3 studies, so that was just one of my observations.

4 Will the next person please introduce
5 yourself, Dr. MacDonald?

6 DR. MacDONALD: Hi. This is Toby MacDonald
7 from Emory. In terms of solid tumors related to
8 CNS, several recent publications indicate LSD1 is a
9 potential therapeutic target in a variety of
10 pediatric brain tumor types. These include
11 medulloblastoma, DIPG, pediatric high-grade glioma,
12 and ATRT. In some histologies especially, there
13 appears to be an immune sensitizing effect and also
14 efficacy in combination with HDAC inhibitors
15 preclinically.

16 So the questions that I would like back to
17 the company would be whether this agent crosses the
18 blood-brain barrier; and if so, if there are any in
19 vitro or in vivo data for pediatric CNS tumor
20 models or consideration testing in these models in
21 the future, particularly the HDAC inhibitors or,
22 say, checkpoint inhibitors. That's all.

1 DR. DUGAN: Yes. Hi. This is Margaret
2 Dugan. In terms of crossing the blood-brain
3 barrier, in tissue biodistribution studies in
4 healthy non-CNS, tumor-bearing animals, the
5 fraction of SP-2577 found in brain versus plasma is
6 about 3 percent. I'd like to also now refer to
7 Dr. Aundrietta Duncan with regard to other
8 preclinical studies in terms of CNS tumors or in
9 combination, as you've asked.

10 DR. DUNCAN: Thank you, Margaret.

11 This is Aundrietta Duncan. Yes, there
12 definitely have been some recent studies showing
13 that combinatorial treatment of DIPG with LSD1
14 inhibitors as well as HDAC inhibitors do
15 demonstrate synergy. There are a couple of
16 published studies. One is not with our molecule.
17 There's one that is with our molecule, so those
18 studies are encouraging, and some of those studies
19 are ongoing.

20 I believe there was a second part to your
21 question. Could you remind me of the second part
22 of your question?

1 DR. MacDONALD: The question was just
2 whether you have any in vitro or in vivo data for
3 your particular agent with regard to pediatric CNS
4 tumor models and/or consideration of testing in
5 such models.

6 DR. DUNCAN: Yes, sure. As I mentioned,
7 those data are available and some of those studies
8 are ongoing.

9 DR. DUGAN: Thank you.

10 DR. McCREEDY: This is Bruce McCreedy. I
11 believe you might have also asked the question
12 about immune sensitization and the potential for
13 combination with checkpoint inhibitors for
14 instance; is that correct?

15 DR. MacDONALD: Yes. Thank you.

16 DR. McCREEDY: Yes. In fact, we have
17 studied this, and we do have some data and some
18 publications recently with our compound that
19 indicates that among the activities that LSD1 is
20 involved in, that inhibition can help, is
21 interactions with the risk complex. Specifically,
22 LSD1 seems to affect the risk complex and increases

1 the amount of cytoplasmic double-stranded RNA
2 mostly from endogenous retroviral sequences. What
3 that does is it can kick off the cells'
4 double-stranded RNA sensors, leading to a type 1
5 interferon response.

6 We did look at this. We looked at it in a
7 variety of tumor types, including some that have
8 specific mutations in chromatin remodeling
9 complexes such as within the SNP pathway. And we
10 did in fact show that when we inhibit the LSD1
11 activity with our compound, we do see increased
12 immunogenicity of these tumors as evidenced by
13 infiltration of those tumors by cytotoxic T cells,
14 primarily CD8 T-cells.

15 We then went on to study this in a couple of
16 models as well as others, one being in a syngeneic
17 breast tumor model where clearly a combination of
18 our drug with an anti-PD-1 inhibitor led to more
19 significant tumor growth. In addition to that
20 model, we also looked at this through a
21 collaborator in the syngeneic colon tumor, the CT26
22 model, and showed that there was also enhanced

1 activity with anti-CTLA-4 inhibitors.

2 So there is a rationale and there is
3 evidence that inhibiting LSD1 activity can in fact
4 help make tumors more immunogenic via the
5 production of type 1 interferon response and
6 recruitment of other players within the innate
7 pathways of immune response, as well as via causing
8 those cells to upregulate more of their MHC 1
9 expression and therefore be more immunogenic.

10 DR. MacDONALD: Thank you.

11 DR. PAPPO: Dr. Glade Bender? Julia?

12 DR. GLADE BENDER: Thank you. Julia Glade
13 Bender. With regard to this question about SP-2577
14 in tumors additional to Ewing sarcoma, I find
15 myself a bit confused about what is the potential
16 biomarker to identify potentially sensitive tumors.
17 Is it the increased expression of LSD1 or is it the
18 translocation type? I wonder if additional
19 preclinical studies using panels of TDX or
20 otherwise might help us to discern what is the most
21 powerful biomarker that could be used for patient
22 selection of those most likely to respond.

1 DR. DUGAN: Yes. Hi. This is Margaret
2 Dugan. I think in terms of the biomarkers we are
3 exploring in the clinic, we are looking at
4 circulating tumor cells for gene expression
5 profiles during the dose escalation to look for any
6 expression patterns that could solidify exactly the
7 inhibition of LSD1 by our agent.

8 I think more importantly, and we're close to
9 this when we get to the disease expansion, we will
10 be able to have those pre- and post-tumor biopsies
11 and be able to explore to a better extent those
12 changes in gene expression profiles, demonstrating
13 that we are hitting the target and effectively
14 translating that into tumor responses.

15 The other part of your question? I'm sorry.

16 DR. GLADE BENDER: No. I guess it's just a
17 general comment. When I look at the LSD1
18 expression, for example in rhabdomyosarcoma and
19 it's not clear to me whether those were alveolar or
20 embryonal models, there is the level of expression
21 of LSD1, but that may or may not communicate how
22 dependent they tumor is on LSD1 in terms of what is

1 driving its growth. And the question is really
2 whether that is determined by a translocation or
3 whether LSD1 expression in and of itself, a high
4 level of it, is actually a predictable response.

5 DR. DUGAN: Right. We will, during this
6 extensive biomarker, be looking at the different
7 EWSR1 translocation patterns and also essentially
8 by IHC measure LSD1 protein level expression.

9 DR. PAPPO: Okay. The next question is from
10 Dr. Malcolm Smith.

11 DR. SMITH: Yes. This is Malcolm Smith.
12 The first point I wanted to make was with increased
13 protein expression in the absence of the genomic
14 alteration, this is not predictive of clinical
15 benefit for targeted agents in most settings. In
16 the absence of functional genomic studies, it's
17 hard to know what role LSD1 inhibition and/or not
18 found might have in terms of therapeutic potential
19 for other pediatric solid tumors. I would endorse
20 Alberto Pappos' comment about the PPTC data as
21 well.

22 A specific comment about the immune

1 checkpoint inhibitors is that Ewing sarcoma, as one
2 example, is an immunologically cold tumor for which
3 there's really no evidence of response to
4 checkpoint inhibitors and really little or no
5 evidence for the immune system being able to
6 recognize these tumors as foreign.

7 So before getting into combinations with an
8 agent like SP-2577 in a cold tumor like Ewing
9 sarcoma, I think it would be really important to
10 see preclinical models of immunologically cold
11 tumors with low tumor mutational burden and/or the
12 ability of an agent like SP-2577 to convert an
13 adult immunologically cold tumor with low tumor
14 mutational burden into a checkpoint responsive
15 tumor.

16 DR. PAPPO: Thank you, Malcolm.

17 The next question is from Dr. Gorlick.

18 DR. GORLICK: It's Richard Gorlick. I find
19 some of the most compelling preclinical data, the
20 evidence around the reversal of the transcriptional
21 signal driven by EWS/FLI. I am somewhat intrigued
22 about the idea of the spectrum of translocations

1 associated with EWS that may show activity in
2 response to this. I think that can be probed in
3 the context of clinical trials by getting the
4 break-apart fusion, so you know whether it's
5 specifically EWS/FLI or a different binding
6 partner.

7 The other areas that you could think about
8 exploring are desmoplastic small round-cell tumor,
9 which has already come up earlier. There's not a
10 lot of preclinical models, but a couple do exist,
11 and obviously it's a clinical entity as well. I
12 also wouldn't forget the adult sarcomas, so
13 clear-cell sarcoma also has EWS fusion, and I
14 wonder whether you have data thus far, or planning
15 to obtain data, to explore the spectrum of EWS
16 related activity. Thank you.

17 DR. DUGAN: Margaret Dugan. I guess,
18 Dr. Gorlick, you're asking Salarius to answer this,
19 correct?

20 DR. GORLICK: Yes. I'm questioning whether
21 you have any data on clear-cell sarcoma and
22 desmoplastic small round-cell tumor beyond what's

1 already been mentioned or plans to look at those.

2 DR. DUGAN: Right. Thank you. You refer
3 back to my statements about in the clinic how we've
4 advantageously been able to enroll some of these
5 patients. I'd like to ask. Dr. Aundrietta Duncan
6 to answer that about the preclinical activity that
7 we may not have ongoing.

8 DR. DUNCAN: Thank you, Dr. Dugan.

9 This is Aundrietta. Dr. Gorlick, to answer
10 your question, the data that we mentioned, that one
11 publication with DSRCT with 2509 and then the
12 really early preclinical NDO study that we have in
13 clear-cell sarcoma with the EWS-ATF1 fusion, those
14 are the extent of the data that we have at the
15 moment, but certainly I do agree with you that
16 understanding the biology with different fusions is
17 certainly warranted and something that we could
18 consider doing.

19 DR. GORLICK: Thank you.

20 DR. DUNCAN: Yes. Thank you.

21 DR. MCCREEDY: This is Dr. McCreedy. I'd
22 like to also respond to your question that we are

1 in fact evaluating over 160 different cell lines in
2 a very extensive study right now where we will be
3 asking some of the very questions you are,
4 including looking for and correlating specific
5 mutations in various chromatin remodeling
6 complexes. We have identified some that we know do
7 sensitize more to our inhibitor such as the
8 SMAR-K4 [ph] and ARID1 that are part of the SWI/SNF
9 pathway.

10 We are also continuing with our
11 accommodations for immuno-oncology in that we are
12 questioned about a cold tumor such as Ewing's tumor
13 and making it hot. We have looked at the tumors,
14 including cold 434, which is normally an
15 immunologically cold tumor and have noted that we
16 do turn that into, quote/unquote, "a hot tumor"
17 that we can demonstrate clear-cut infiltration now
18 by mononuclear cells, by T cells, into those tumors
19 after incubation with the compound. We've also
20 seen an MCF-7 line.

21 So I think your comments are right on
22 target, and rest assured we are very thoroughly

1 evaluating all of these different questions to try
2 and ascertain better different potential
3 combination mechanisms, as well as what are the
4 specific targets, as you saw from the RNA-Seq
5 profile, can we identify specific other targets,
6 which we believe are more likely in the case of
7 Ewing's not to be the EWS/FLI protein itself but
8 rather one of its many interacting
9 corepressor/coactivator complexes. One of the
10 proteins within those complexes is more likely to
11 target.

12 DR. PAPPO: Okay. Dr. Cheng. And I would
13 like to remind the panel that the purpose of this
14 session is really to have discussion amongst panel
15 members, so we will have Dr. Cheng ask a question.

16 DR. CHENG: Sure. Thank you, Dr. Pappo.

17 I actually had a question regarding the
18 context of this question of other tumors. My
19 question is actually to the FDA, if they can give
20 guidance as to how they think about how a compound
21 should be investigated in other tumors, the extent
22 of the investigation, and should it be limited

1 based on the science knowing that this is difficult
2 to investigate every single tumor, particularly in
3 the context of a written question.

4 I do think that will be helpful, to have an
5 understanding as to how the FDA is viewing the
6 opportunities or the limitations investigating
7 other tumors and how extensive or limited it should
8 be.

9 DR. PAPP0: I don't know if Greg wants to
10 answer that or another member of the FDA.

11 DR. REAMAN: This is Greg Reaman. I can try
12 to answer Dr. Cheng's question. Part of the reason
13 for this question was because of the fact that our
14 policy, if you will, in issuing written request, is
15 to make sure as best we can that the
16 investigational drug that's being explored
17 addresses as many or all of the potential public
18 health considerations in the pediatric age group.

19 When we consider issuing written requests,
20 we want to make sure that we are addressing all of
21 the possible indications that might be addressed by
22 a particular drug in children. In saying that,

1 we're not requiring that sponsors necessarily do
2 exhaustive investigation of every single pediatric
3 cancer in that situation, but the question here was
4 raised because of the lack of clarity regarding
5 LSD1 expression versus the existence of one or more
6 specific fusions related to the various partners
7 that were associated with the proliferative
8 activity in the growth of
9 Ewing's, and then also with the activity of
10 SP-2577.

11 So that was the basis for the question.
12 I've answered it for you.

13 DR. CHENG: Thank you, Dr. Reaman. I forgot
14 to identify myself. This is Jon Cheng, industry
15 rep, and I do appreciate a practical approach.
16 Particularly sometimes small companies or even big
17 companies wish to be focused in their investigation
18 of other tumors, and sometimes preclinical or early
19 clinical data is sometimes thought to be maybe
20 adequate as to how to investigate this, but thank
21 you for that helpful response.

22 DR. REAMAN: Sure.

1 DR. PAPPO: If Dr. McCreedy could please
2 lower is hand.

3 If there are no additional questions, I will
4 try to summarize what we discussed for question
5 number 1. The first one was that it is unclear
6 whether increased protein expression of LSD1 will
7 predict any kind of response, and additional
8 studies, including functional genomics, are highly
9 encouraged.

10 In addition to that, the preclinical data
11 that was presented for other tumor types seemed, in
12 my opinion, a little bit weak, especially for
13 alveolar rhabdomyosarcoma and osteosarcoma, so
14 perhaps additional studies need to be conducted.
15 There also was talk about combination therapy with
16 LSD1, however, we were unable to see that data. So
17 it would be important to see if there's really a
18 synergistic effect by adding other chemotherapeutic
19 agents or other therapies to LSD1 inhibitors.

20 There was an issue about considering brain
21 tumors for this specific drug. If I understood
22 correctly, the blood-barrier penetration is

1 relatively low. I think it was 3 percent. So I am
2 not sure that additional studies in brain tumors
3 should be conducted or not, but I'll ask our CNS
4 experts to chime into that portion of the summary.

5 There was also a suggestion to further
6 explore potential biomarkers that will allow a
7 better prediction of response in these patients.
8 There was also a suggestion that if combination
9 with immunotherapies is to be conducted that
10 additional preclinical studies are done since Ewing
11 sarcoma specifically appears to be a cold tumor.
12 There was also a question about increasing the
13 spectrum of when to use this therapy in other EWS
14 rearranged tumors, desmoplastic small round-cell
15 tumor and clear-cell sarcoma.

16 Finally, Dr. Reaman explained the FDA's view
17 when considering a written request and to explore
18 all the possible indications of a particular drug.

19 Could you raise your hands or say if I
20 missed anything? Specifically, Tobey, did I
21 address the CNS issue correctly or did I miss
22 something?

1 DR. MacDONALD: This is Tobey. No, I think
2 you addressed it correctly. The question would be
3 whether 3 percent, the activity of the drug is
4 viable as a mechanism at that level. We know that
5 other chemotherapies such as cisplatin has a very
6 low percentage across the blood-brain barrier by 2
7 to 4 percent, so it really comes down to activity
8 of the drug at that level.

9 DR. PAPPO: Thank you very much. If I
10 didn't mess up very badly or if I didn't miss
11 anything, we're going to move to the next question.
12 The FDA would read the second question to the
13 committee.

14 DR. DOROS: I'm just waiting for the slide
15 to change.

16 Question 2. Thank you. For discussion
17 point number 2, given the nonclinical results of
18 synergistic effect in increased antitumor activity
19 of SP-2577 in combination with chemotherapeutic and
20 epigenetic agents and immune checkpoint inhibitors,
21 consider its use as a combination treatment in
22 pediatric tumors.

1 DR. PAPPO: If there are no questions or
2 comments concerning the wording of the question, we
3 will now open the question for discussion. Dr.
4 Katie Janeway has a question.

5 DR. JANEWAY: I'm sorry. That was to raise
6 my hand for discussion.

7 DR. PAPPO: Anyone else want to ask -- I
8 think some of these points were discussed in
9 question number 1. I think Dr. Greg Reaman has his
10 hand raised.

11 DR. REAMAN: Yes. I was just going to say
12 the same thing, Alberto. I think we actually
13 covered many of these points in our discussion of
14 the previous question.

15 DR. PAPPO: Let me just keep going down the
16 list and see if there's anything else that we are
17 missing.

18 Malcolm? Malcolm, do you have a question?

19 DR. SMITH: Alberto, are we having
20 discussion now on this point or questions about
21 this point?

22 DR. PAPPO: No, we're having discussion

1 about this point.

2 DR. SMITH: Okay. So Katie will join in as
3 well, then. The point I would make here is that
4 the best predictor for success in combination
5 regimens is activity as a single agent. Not every
6 single agent with activity will improve outcome
7 when it's used in combination, but agents without
8 single-agent activity have a much lower likelihood
9 of improving outcome when used in combination.

10 To illustrate this, we did a retrospective
11 study looking at CTEP-sponsored randomized phase 2
12 trials in which an experimental agent was added to
13 a known active agent, and fewer than 3 percent of
14 these randomized trials that involved experimental
15 agents without documented single-agent activity for
16 the disease under evaluation produced results that
17 were indicative of likely true clinical benefit.

18 So I think the appropriate step now would be
19 to look for the single-agent activity against Ewing
20 sarcoma like Salarius is doing, and we're certainly
21 all hoping that there's going to be good, robust
22 activity observed, and at that point it's a

1 no-brainer to proceed to combination studies.

2 If there's not single-agent activity, then I
3 think we'll have to think long and hard about what
4 the next steps are for Ewing sarcoma or other EWS
5 rearranged tumors, and that would have to be done,
6 in part, based on what preclinical data existed but
7 also in the context of other research opportunities
8 for patients with Ewing sarcoma. Thank you.

9 DR. PAPPO: Thank you very much.

10 Katie still has her hand raised. Do you
11 want to have a little bit of discussion on this
12 question?

13 DR. JANEWAY: Yes. I wanted to comment on
14 this question, so thank you for asking this
15 question. I think it's a very important question,
16 which is why it came up in the discussion of the
17 first question.

18 I do think that if there is evidence of
19 single-agent activity, particularly if the toxicity
20 signal remains reassuring, that there will need to
21 be consideration of combination studies with
22 chemotherapy.

1 If you are thinking about more long term in
2 terms of which setting would you imagine that this
3 drug would be used in Ewing sarcoma, most likely
4 you would want to study this in the newly diagnosed
5 setting, and in that setting you would likely be
6 combining it with some type of Ewing sarcoma drug
7 to chemotherapy. Even if you were to set your
8 ultimate goal on use of this, for example in first
9 recurrence, it's very likely that you would want to
10 combine those with one of the chemotherapy regimens
11 that is used at the time of relapse in Ewing
12 sarcoma.

13 So I would encourage the company and the
14 other investigators who are working with this
15 compound to continue to study in the preclinical
16 space activity in combination with chemotherapy
17 agents that are used in Ewing sarcoma.

18 I do also think that it would be very
19 interesting to better understand the mechanism by
20 which there might be synergy with immune checkpoint
21 inhibitors as was already discussed in terms of
22 converting what is thought to be a cold tumor into

1 a more hot tumor in the setting of combination with
2 immune checkpoint inhibitors. Thank you very much.

3 DR. PAPPO: Thank you, Katie.

4 Leo, you're next.

5 DR. MASCARENHAS: Hi. This is Leo
6 Mascarenhas. Can you hear me?

7 DR. PAPPO: Yes, we can.

8 DR. MASCARENHAS: This question is an
9 intriguing one, and when you look at pediatrics
10 sarcomas in particular, they're rapidly
11 proliferating in aggressive cancers, and oftentimes
12 the time to progression, especially at the time of
13 relapse, is relatively low.

14 I struggle with if there is a lot of robust
15 preclinical activity, and testing of a drug in a
16 phase 1 setting to make decisions based on just
17 purely clinical activity in that setting may be
18 challenging. I think the example which we all know
19 was I think with temsirolimus and rhabdomyosarcoma
20 and mTOR inhibition, where a single-agent therapy
21 didn't show any exciting clinical activity, but the
22 preclinical information was very strong and the

1 combinatorial therapy in preclinical models was
2 also excellent. We were able to show at least some
3 activity in the relapsed setting, and it is now
4 being tested in the upfront setting.

5 So while single-agent activity would be very
6 encouraging and would help us to move this rapidly
7 forward, if there is robust preclinical activity,
8 I'm not sure whether we absolutely need clinical
9 activity in the phase 1 setting to think of a
10 possibility of moving a very active agent
11 preclinically forward, though it will be helpful.
12 Clinical activity will be helpful, but I don't know
13 if it should be the sole decision.

14 DR. PAPPO: Even in the presence of the fact
15 that all of the patients that are being tested with
16 those drugs are patients with Ewing sarcoma, if you
17 didn't see any responses in I think 16 or 17
18 patients, despite the fact that you have robust
19 preclinical activity, you would still consider
20 moving this forward with combination therapy?

21 DR. MASCARENHAS: If the preclinical
22 combination data, which can be generated, is robust

1 particularly in Ewing sarcoma and we have a hint of
2 clinical activity in terms of some disease
3 stabilization rather than responses, given the
4 landscape of potential active agents in Ewing
5 sarcoma, I might consider at least a trial in the
6 relapsed setting.

7 DR. PAPPO: Thank you very much.

8 I see Katie still has her hand up. Do you
9 still want to comment on this question or you're
10 okay, Katie?

11 DR. JANEWAY: My apology. I'll lower my
12 hand.

13 DR. PAPPO: If there are no additional
14 comments on this question, if I could briefly
15 summarize this. A lot of the issues raised in this
16 question were already addressed in question
17 number 1, however, one point of view would be to
18 look for single-agent activity in this clinical
19 trial, and if there is no robust single-agent
20 activity, it would be difficult to justify
21 combination studies.

22 On the other hand, there is a precedent for

1 preclinical studies that have shown activity of
2 some single agents, for example temsirolimus, and
3 lacked significant clinical activity as a single
4 agent. However, when they were combined with
5 chemotherapy in the preclinical models, they
6 appeared to be a synergy, and actually in the
7 clinical setting, they proved to be efficacious in
8 the setting of relapsed rhabdomyosarcoma.

9 So one point of view would be if there is no
10 single-agent activity, try to pursue combination
11 studies. The other point of view from the panel is
12 if there is very strong preclinical activity with
13 combination therapies to at least pursue a phase 1
14 clinical study in the relapsed setting of Ewing
15 sarcoma.

16 Did I capture the conversation okay, or did
17 I miss anything, or did I mess up anything, Leo or
18 Malcolm?

19 DR. SMITH: That was clear, Alberto, from my
20 perspective. This is Malcolm Smith.

21 DR. PAPPO: Thank you very much.

22 DR. MASCARENHAS: It's clear from my

1 perspective, too.

2 DR. PAPPO: Thank you very much. We will
3 now proceed to the third question. The FDA will
4 read the third question.

5 DR. DOROS: Hi. For our last discussion
6 question today, please discuss the use of SP-2577
7 in patients less than 12 years of age given the
8 range of tumor types that appear to be susceptible
9 to the antitumor effects of SP-2577 based on
10 nonclinical data.

11 DR. PAPPO: If there are no questions or
12 comments concerning the wording of the question, we
13 will now open the question for discussion. I see
14 Leo has his hand up.

15 Leo?

16 DR. MASCARENHAS: As a pediatrician, I would
17 support investigating this in patients less than
18 12 years of age, at least in select cancers. The
19 median age of Ewing sarcoma is in the teenage
20 years, and we do have several patients who are
21 below the age of 12 who could potentially benefit.

22 DR. PAPPO: Thank you very much. I also see

1 a bunch of hands. The next one is Dr. Gorlick.

2 DR. GORLICK: It's Richard Gorlick. I'm
3 actually going to recommend the other way. Ewing
4 sarcoma, even though there are patients who are
5 below 12, the vast majority are above it.
6 Clear-cell sarcoma is an adult condition, and
7 desmoplastic small round-cell tumor is an
8 adolescent and above disease.

9 Most of the entities you're talking about
10 are going to be easily feasible for an activity
11 study to be done in an over-12 group; and although
12 you ultimately, if there is activity, may want to
13 know the safety in younger patients, you're going
14 to be able to get an activity assessment in the
15 older age range, and I'm not sure there's enough
16 histology to justify needing to do a peds
17 12-and-below study right away. Thank you.

18 DR. PAPPO: Elizabeth?

19 DR. RAETZ: Hi. This is Elizabeth Raetz. I
20 think one thing is if it were to be considered in
21 the less-than-12 year olds, it would be to have a
22 better understanding of plans for alternate

1 formulations. It may be hard in a pill form that's
2 a small pill, so it's a fair number of pills to
3 take with each dose. So it might be helpful to
4 understand if other formulations are planned.

5 DR. PAPPO: Thank you. Katie?

6 DR. JANEWAY: Yes. I was going to say
7 something similar to what Richard Gorlick said. I
8 think that you can wait to expand to understand
9 dosing and tolerability in patients under 12 years
10 old with Ewing sarcoma until you have signals from
11 your dose in terms of whether or not there is
12 evidence of clinical activity.

13 DR. PAPPO: Thank you. And just a brief
14 reminder to please introduce yourself when you're
15 commenting on the question, and then go ahead. I
16 see that hands are still raised for Elizabeth, for
17 Katie, and for Leo. Unless you have another
18 question, please lower your hand.

19 Let me see where we're at here. I have Leo
20 still.

21 DR. MASCARENHAS: Can you hear me?

22 DR. PAPPO: Yes, we can hear you. Go ahead.

1 DR. MASCARENHAS: This is Leo Mascarenhas.
2 I was on mute. For testing in children younger
3 than 12 years of age can take a while, and waiting
4 for activity in Ewing sarcoma may hamper further
5 development in pediatrics, particularly if
6 preclinical data could be used to generate
7 information to test this in patients with
8 rhabdomyosarcoma, where the majority of patients
9 are less than 12 years of age. So considering it
10 broadly, issues related to pharmacokinetics,
11 safety, as well as formulation is important in my
12 opinion. Thank you.

13 DR. PAPPO: Thank you very much. Ted is the
14 next one.

15 DR. LAETSCH: Hi. It's Ted Laetsch. I was
16 just going to second what the other advisory
17 committee members had said, that while we certainly
18 can look at activity in patients over 12, it will
19 take some time to think about a pediatric
20 formulation if one is necessary or at least smaller
21 dosing increments like your current dosing
22 increment that would enable a pediatric study. I

1 would encourage us to think about those issues
2 earlier rather than later so that it isn't only
3 after there's evidence of activity in adults if
4 those begin to be considered.

5 DR. PAPPO: Thank you, Ted.

6 Dr. Cheng?

7 (No response.)

8 DR. PAPPO: Ira?

9 DR. DUNKEL: Ira Dunkel, Memorial
10 Sloan Kettering. I wanted to ask fellow committee
11 members who are more sarcoma oriented than I am if
12 we should be surprised that there have been so few
13 adolescents who've been enrolled on the existing
14 trial. I realize that's a little tangential to
15 this question but might impact on even younger
16 patients choosing to access a drug. Thank you.

17 DR. PAPPO: I think part of it would depend
18 on the number of centers that had this clinical
19 trial open and the type of patients they're seeing
20 and if they're mostly adults centers. I don't have
21 that clinical trial open here, so I will ask some
22 of the panel members that have their clinical trial

1 open at their institution if it's an issue of older
2 age of the patients with relapsed Ewing's or the
3 fact that they're mostly seen by your adult
4 counterparts?

5 I think I see Katie's hand, so Katie, go
6 ahead.

7 DR. JANEWAY: I would say it's a combination
8 of both of the factors. Sorry. Katie Janeway,
9 pediatric oncology, Dana-Farber. I would say it's
10 a combination of both of the factors. You
11 mentioned, Alberto, one is the site where the trial
12 is open and the other is actually the age of our
13 relapsed Ewing sarcoma patient population who tend
14 to be older.

15 So even when we run a trial like this
16 through the Children's Oncology Group, which is
17 exclusively pediatric centers, we see a good
18 quarter of the patients being over age 18.

19 DR. PAPPO: Ted has a comment also.

20 DR. LAETSCH: Apology. I just didn't put my
21 hand down.

22 DR. PAPPO: Are there any additional

1 comments about this question? Leo has one. Yes?

2 DR. MASCARENHAS: I was just going to add
3 that the outcomes relatively of Ewing sarcoma have
4 improved in recent years, and younger patients tend
5 to have a better prognosis. The other requirements
6 are that oftentimes there are other therapies which
7 are usually considered at first relapse, so many of
8 the patients, as you could see from the data which
9 was presented, were beyond first relapse, and that
10 may contribute also to the older age of the
11 patient.

12 DR. PAPPO: Thank you, Leo.

13 If I could summarize the discussion on this
14 third question, most of the panel members that
15 commented on this question feel that we should have
16 more data on patients over 12 years of age because
17 the vast majority of patients that present with
18 Ewing sarcoma will be in this age group. However,
19 some panel members think that specifically for
20 other histologies other than Ewing's, for example,
21 rhabdomyosarcoma, there are clinical trials that
22 are going to be conducted in these specific

1 histologies, and that less than 12 years of age
2 should be taken into consideration for enrollment
3 to the clinical trial. In addition to that, that
4 would give us the opportunity to better assess the
5 PK of this compound in this population and also
6 investigate alternative formulations.

7 Regarding the rate of enrollment of younger
8 patients in the current ongoing trial, the reason
9 why there are such few patients might be related to
10 the fact that the number of institutions that have
11 this clinical trial open, the age of patients with
12 Ewing sarcoma, the time of relapse, and the fact
13 that some of these patients have improved outcomes
14 and go on other therapies prior to going to
15 experimental therapies; for example, the rEECur
16 trial is the perfect example, irinotecan,
17 temozolomide, ifosfamide, and cyclo
18 [indiscernible].

19 I think I've summarized the discussion of
20 question number 3. Unless there's anything I've
21 missed, I welcome your comments or suggestions.

22 (No response.)

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Adjournment

DR. PAPPO: Okay. If there are no additional comments, we will now break for lunch. We will reconvene at 1:20 p.m. Eastern Standard time. Panel members, please remember that there should be no discussion of the meeting topics during lunch amongst yourselves or with any member of the audience. Thank you very much, and we will see you back in a little bit. Thank you very much.

(Whereupon, at 12:05 p.m., the morning session was adjourned.)