

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

PEDIATRIC ONCOLOGY SUBCOMMITTEE OF THE
ONCOLOGIC DRUGS ADVISORY COMMITTEE (pedsODAC)

Wednesday, June 17, 2020
1:20 p.m. to 3:11 p.m.

Topic 2
Afternoon Session

Virtual Meeting

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Meeting Roster

ACTING DESIGNATED FEDERAL OFFICER (Non-Voting)

LaToya Bonner, PharmD

Division of Advisory Committee and
Consultant Management
Office of Executive Programs, CDER, FDA

ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)

Alberto S. Pappo, MD

(Chairperson, pedsODAC)
Member and Head, Division of Solid Malignancies
St Jude Children's Research Hospital
Professor of Pediatrics
University of Tennessee Health Science Center
Memphis, Tennessee

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBER

(Non-Voting)

Jonathan D. Cheng, MD

(Industry Representative)

Vice President and Oncology Therapeutic

Area Head, Merck Research Laboratories

Oncology Clinical Research

North Wales, Pennsylvania

TEMPORARY MEMBERS (Voting)

Catherine Bollard, MBChB, MD

Director, Center for Cancer and Immunology Research

Professor of Pediatrics and Immunology

Children's National Health System

The George Washington University

Washington, District of Columbia

1 **Steven G. DuBois, MD**

2 *(Participation in Day 1 Topic 2 and Day 2 Only)*

3 Director, Experimental Therapeutics

4 Dana-Farber/Boston Children's Hospital

5 Associate Professor of Pediatrics

6 Harvard Medical School

7 Boston, Massachusetts

8

9 **Ira J. Dunkel, MD**

10 Professor of Pediatrics

11 Weill Cornell Medical College

12 Pediatric Oncologist

13 Department of Pediatrics

14 Memorial Sloan Kettering Cancer Center

15 New York, New York

16

17 **Julia Glade Bender, MD**

18 Vice Chair for Clinical Research

19 Department of Pediatrics

20 Memorial Sloan Kettering Cancer Center

21 New York, New York

22

1 **Richard Gorlick, MD**

2 Division Head and Department Chair, Pediatrics

3 Professor of Pediatrics

4 Robert A. Mosbacher Chair of Pediatrics

5 Department Chair ad interim, Sarcoma Medical Oncology

6 University of Texas MD Anderson Cancer Center

7 Children's Cancer Hospital

8 Houston, Texas

9

10 **Katherine A. Janeway, MD, MMSc**

11 Associate Professor of Pediatrics

12 Harvard Medical School

13 Senior Physician

14 Dana-Farber/Boston Children's Cancer and Blood

15 Disorders Center

16 Director, Clinical Genomics

17 Dana-Farber Cancer Institute

18 Boston, Massachusetts

19

20

21

22

1 **Naynesh R. Kamani, MD**

2 Attending Physician

3 Division of Allergy-Immunology

4 Children's National Health System

5 Clinical Professor of Pediatrics

6 George Washington University School of Medicine and

7 Health Sciences

8 Washington, District of Columbia

9

10 **E. Anders Kolb, MD**

11 Vice Chairman for Research

12 Professor, Department of Pediatrics

13 Sidney Kimmel Medical College at

14 Thomas Jefferson University

15 Director

16 Nemours Center for Cancer and Blood Disorders

17 Nemours/Alfred I. duPont Hospital for Children

18 Wilmington, Delaware

19

20

21

22

1 **Theodore W. Laetsch, MD**

2 Associate Professor of Pediatrics

3 Norma and Jim Smith Professor of Clinical Excellence

4 Eugene P. Frenkel, M.D. Scholar in Clinical Medicine

5 Harold C. Simmons Comprehensive Cancer Center

6 University of Texas Southwestern Medical Center

7 Experimental Therapeutics Program Leader

8 Children's Health

9 Dallas, Texas

10

11 **Donna Ludwinski, BSChE**

12 *(Patient Representative)*

13 New York, New York

14

15 **Tobey J. MacDonald, MD**

16 Aflac Endowed Chair for Pediatric Neuro-Oncology

17 Professor of Pediatrics

18 Director, Pediatric Neuro-Oncology Program

19 Aflac Cancer & Blood Disorders Center

20 Children's Healthcare of Atlanta

21 Emory University School of Medicine

22 Atlanta, Georgia

1 **Leo Mascarenhas, MD, MS**
2 Deputy Director, Cancer and Blood Disease Institute
3 Section Head- Oncology and
4 Director Sarcoma and Solid Tumor Program
5 Division of Hematology and Oncology
6 Department of Pediatrics
7 Children's Hospital Los Angeles
8 Associate Professor of Pediatrics
9 Keck School of Medicine
10 University of Southern California
11 Los Angeles, California

12
13 **D. Williams (Will) Parsons, MD PhD**
14 Associate Professor of Pediatrics
15 Baylor College of Medicine
16 Deputy Director, Texas Children's Cancer and
17 Hematology Centers
18 Houston, Texas

19
20
21
22

1 **Elizabeth Raetz, MD**

2 Professor of Pediatrics

3 NYU Grossman School of Medicine

4 Director, Division of Pediatric Hematology/Oncology

5 NYU Langone Health

6 New York, New York

7

8 **Nita Seibel, MD**

9 Head, Pediatric Solid Tumor Therapeutics

10 Clinical Investigations Branch, Cancer Therapy

11 Evaluation Program

12 Division of Cancer Treatment and Diagnosis

13 National Cancer Institute

14 National Institutes of Health (NIH)

15 Bethesda, Maryland

16

17

18

19

20

21

22

1 **Malcolm A. Smith, MD, PhD**

2 Associate Branch Chief for Pediatrics

3 Clinical Investigations Branch

4 Cancer Therapy Evaluation Program

5 Division of Cancer Treatment and Diagnosis

6 National Cancer Institute, NIH

7 Rockville, Maryland

8

9 **FDA PARTICIPANTS (Non-Voting)**

10 **Gregory H. Reaman, MD**

11 Associate Director for Pediatric Oncology

12 Oncology Center of Excellence

13 Office of the Commissioner

14 Associate Director for Oncology Sciences

15 Office of Oncologic Diseases (OOD)

16 Office of New Drugs (OND), CDER, FDA

17

18 **Denise Casey, MD**

19 Medical Officer

20 Division of Oncology 3 (DO3)

21 OOD, OND, CDER, FDA

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Leslie Doros, MD

Medical Officer

DO3, OOD, OND, CDER, FDA

Megan Zimmerman, MD

Medical Officer

Clinical Hematology Branch

Division of Clinical Evaluation and

Pharmacology/Toxicology

Office of Tissues and Advanced Therapies

Center for Biologics Evaluation and Research, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Alberto Pappo, MD	14
5	Topic 2: Marizomib - Celgene	
6	International II Sarl, a Wholly Owned	
7	Subsidiary of Bristol-Myers Squibb	
8	Conflict of Interest Statement	
9	LaToya Bonner, PharmD	21
10	Industry Presentation - Celgene	
11	Introduction	
12	Deborah Tady, PharmD, RAC	28
13	Pediatric Research Commitment/ 14 Marizomib Development	
15	Sherry Leonard, BSc, RAC	32
16	Regulatory History & Key 17 Activities for Pediatric Development	
18	Molecular Mechanism of Action 19 Clinical Trial Experience in Adults	
20	Ongoing and Planned Clinical 21 Tools in Pediatrics	
22	Mark Kieran, MD, PhD	36

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C O N T E N T S (continued)

AGENDA ITEM	PAGE
Clarifying Questions from Subcommittee	48
Questions to Subcommittee and Discussion	73
Closing Remarks	
Gregory Reaman, MD	97
Adjournment	99

P R O C E E D I N G S

(1:20 p.m.)

Call to Order

Introduction of Committee

DR. PAPPO: Good afternoon, and welcome back. For media and press, I would like to announce the FDA press contact is Nathan Arnold. His email is nathan.arnold@fda.hhs.gov, and his phone number is 301-796-6248.

My name is Alberto Pappo, and I will be chairing today's virtual meeting. I will now call the afternoon session of the Pediatric Oncology Subcommittee of the Oncology Drugs Advisory Committee to order. Like we did in the morning, we will use a call/respond method, where I will call the panel member's name to prompt the member to speak, and then the panel member will identify and introduce himself, or herself, and then we can put that into the record.

We will start with Alberto Pappo. I'm the chairperson of the Pediatric ODAC. I'm a pediatric oncologist at St. Jude Children's Research

1 Hospital.

2 Dr. Jonathan Cheng?

3 DR. CHENG: Good afternoon. Jonathan Cheng.

4 I'm the industry rep, and I'm with Merck.

5 DR. PAPP0: Dr. Catherine Bollard?

6 DR. BOLLAR: Hi. It's Dr. Catherine

7 Bollard. I'm from Children's National and the

8 George Washington University in Washington, DC.

9 DR. PAPP0: Dr. Steven DuBois?

10 (No response.)

11 DR. PAPP0: Steve, if you can unmute your
12 phone.

13 DR. DuBOIS: Hi. I'm here now. Steve

14 DuBois, pediatric oncologist from Dana-Farber

15 Boston Children's in Boston.

16 DR. PAPP0: Dr. Ira Dunkel?

17 DR. DUNKEL: Hi. This is Ira Dunkel,

18 pediatric neuro-oncologist at the Memorial

19 Sloan-Kettering Cancer Center in New York.

20 DR. PAPP0: Dr. Julia Glade Bender?

21 DR. GLADE BENDER: Hi. I'm Julia Glade

22 Bender also from Memorial Sloan-Kettering in New

1 York City. I'm a pediatric oncologist and vice
2 chair for clinical research in the Department of
3 Pediatrics.

4 DR. PAPPO: Dr. Richard Gorlick?

5 DR. GORLICK: I'm Richard Gorlick. I'm the
6 division head of pediatrics at MD Anderson Cancer
7 Center in Houston, Texas.

8 DR. PAPPO: Dr. Theodore Laetsch?

9 DR. LAETSCH: Theodore Laetsch, a pediatric
10 oncologist at UT Southwestern Medical Center in
11 Dallas, Texas.

12 DR. PAPPO: Donna Ludwinski?

13 DR. LUDWINSKI: Donna Ludwinski, Solving
14 Kids' Cancer in New York.

15 DR. PAPPO: Dr. Andy Kolb?

16 (No response.)

17 DR. PAPPO: Andy, are you on the line?

18 (No response.)

19 DR. PAPPO: We will come back to him.

20 Dr. Katie Janeway?

21 DR. JANEWAY: Dr. Katie Janeway, pediatric
22 oncology and sarcoma specialist at Dana-Farber and

1 Boston Children's in Boston, Massachusetts.

2 DR. PAPPO: Dr. Naynesh Kamani?

3 DR. KAMANI: Good afternoon. This is
4 Naynesh Kamani, pediatric immunologist, bone marrow
5 transplanter at Children's National in Washington,
6 DC.

7 DR. PAPPO: Dr. Tobey MacDonald?

8 DR. MacDONALD: Good afternoon. This is
9 Tobey MacDonald, pediatric neuro-oncologist at
10 Emory University and Children's Healthcare of
11 Atlanta.

12 DR. PAPPO: Dr. Leo Mascarenhas?

13 DR. MASCARENHAS: Hi. This is Leo
14 Mascarenhas. I'm the deputy director for the
15 Children's Cancer and Blood Disease Institute at
16 Children's Hospital, Los Angeles, and the head of
17 oncology, and a pediatric oncologist.

18 DR. PAPPO: Dr. William Parsons?

19 (No response.)

20 DR. PAPPO: I will try to call their names
21 at the end of this. Next slide?

22 Dr. Elizabeth Raetz?

1 DR. RAETZ: Hi. Elizabeth Raetz, pediatric
2 oncologist and division director at New York
3 University.

4 DR. PAPPO: Dr. Nita Seibel?

5 DR. SEIBEL: Hi. Nita Seibel, pediatric
6 oncologist in the clinical investigation branch at
7 CTEP at the National Cancer Institute.

8 DR. PAPPO: Dr. Malcolm Smith?

9 DR. SMITH: Hi. Malcolm Smith in the cancer
10 therapy evaluation program at the National Cancer
11 Institute.

12 DR. PAPPO: Dr. LaToya Bonner?

13 CDR BONNER: Hi. Good afternoon. This is
14 LaToya Bonner, DFO for this meeting.

15 DR. PAPPO: Dr. Gregory Reaman?

16 (No response.)

17 DR. PAPPO: Dr. Denise Casey?

18 DR. CASEY: Hi. This is Denise Casey,
19 pediatric oncologist, Division of Oncology 3, FDA.

20 DR. PAPPO: Do we have another slide?

21 Dr. Leslie Doros?

22 (No response.)

1 DR. PAPPO: Dr. Megan Zimmerman?

2 DR. ZIMMERMAN: Hi. This is Megan
3 Zimmerman. I'm a pediatric oncologist and a
4 clinical reviewer in the clinical hematology branch
5 at FDA.

6 DR. PAPPO: So before we go any further, I
7 just want to call out a couple of names that were
8 missing.

9 Dr. Kolb, are you on the line?

10 (No response.)

11 DR. PAPPO: Dr. Parsons?

12 DR. PARSONS: Yes, I'm here. Sorry about
13 that, Alberto. Will Parsons from Texas Children's
14 Hospital and Baylor College of Medicine in Houston,
15 Texas.

16 DR. PAPPO: Thank you.

17 Dr. Doros?

18 (No response.)

19 DR. PAPPO: And Dr. Reaman, which I hear
20 he's in the participant pod, but he'll be moved up
21 to the presenter's pod.

22 (No response.)

1 DR. PAPPO: So we will get started.
2 For topics such as those being discussed at
3 today's meeting, there often are a variety of
4 opinions, some of which are quite strongly held.
5 Our goal is that today's meeting will be a fair and
6 open forum for discussion of these issues and that
7 individuals can express their views without
8 interruption. Thus, as a gentle reminder,
9 individuals will be allowed to speak into the
10 record only if recognized by the chairperson. We
11 look forward to a productive meeting.

12 In the spirit of the Federal Advisory
13 Committee Act and the Government in the Sunshine
14 Act, we ask that the advisory committee members
15 take care that their conversations about the topic
16 at hand take place in the open forum of the
17 meeting.

18 We are aware that members of the media are
19 anxious to speak with the FDA about these
20 proceedings, however, the FDA will refrain from
21 discussing the details of this meeting with the
22 media until its conclusion. Also, the committee is

1 reminded to please refrain from discussing the
2 meeting topic during breaks or lunch. Thank you.

3 Dr. LaToya Bonner will read the Conflict of
4 Interest Statement for the meeting.

5 **Conflict of Interest Statement**

6 CDR BONNER: Thank you, sir.

7 The Food and Drug Administration is
8 convening today's meeting of the Pediatric Oncology
9 Subcommittee of the Oncologic Drug Advisory
10 Committee under the authority of the Federal
11 Advisory Committee Act, FACA, of 1972.

12 With the exception of the industry
13 representative, all members of the committee and
14 temporary voting members of the subcommittee are
15 special government employees or regular federal
16 employees from other agencies and are subject to
17 federal conflict of interest laws and regulations.

18 The following information on the status of
19 the subcommittee's compliance with federal ethics
20 and conflict of interest laws, covered by but not
21 limited to those found at 18 U.S.C. Section 208, is
22 being provided to participants in today's meeting

1 and to the public.

2 FDA has determined that members of the
3 committee and temporary voting members of the
4 subcommittee are in compliance with federal ethics
5 and conflict of interest laws. Under 18 U.S.C.
6 Section 208, Congress has authorized FDA to grant
7 waivers to special government employees and regular
8 federal employees who have potential financial
9 conflicts when it is determined that the agency's
10 need for a special government employee's services
11 outweighs his or her potential financial conflict
12 of interest or when the interest of a regular
13 federal employee is not so substantial as to be
14 deemed likely to affect the integrity of the
15 services which the government may expect from the
16 employee.

17 Related to the discussions of today's
18 meeting, members of the committee and temporary
19 voting members of the subcommittee have been
20 screened for potential financial conflict of
21 interest of their own as well as those imputed to
22 them, including those of their spouses or minor

1 children, and for purposes of 18 U.S.C.
2 Section 208, their employers. These interests may
3 include investments; consulting; expert witness
4 testimony; contracts, grants, CRADAs; teaching,
5 speaking, writing; patents and royalties; and
6 primary employment.

7 For today's agenda, information will be
8 presented regarding pediatric development plans for
9 two products that are in development for an
10 oncology indication. The subcommittee will
11 consider and discuss issues relating to the
12 development of each product for pediatric use and
13 provide guidance to facilitate the formulation of
14 written requests for pediatric studies if
15 appropriate.

16 The product under consideration for this
17 session is marizomib, presentation by Celgene
18 International II Sarl, a wholly owned subsidiary of
19 Bristol-Myers Squibb. This is a particular matters
20 meeting during which specific matters related to
21 marizomib will be discussed.

22 Based on the agenda for today's meeting and

1 all financial interests related by the committee
2 members and temporary voting members, conflict of
3 interest waivers have been issued in accordance
4 with 18 U.S.C. Section 208(b)(3) to Drs. Ira
5 Dunkel, Theodore Laetsch, and Leo Mascarenhas.

6 Dr. Dunkel's waiver involves consulting
7 interests with four companies for which he receives
8 remuneration between \$0 and \$5,000 per year from
9 three companies and between \$10,001 and \$25,000 per
10 year from a fourth company.

11 In addition, his employer has a contract for
12 a study funded by Bristol-Myers Squibb. Lastly,
13 Dr. Dunkel serves as chair of the Pediatric Brain
14 Tumor Consortium, which funds studies and receives
15 support for studies from Apexigen, Pfizer, Celgene,
16 Novartis, Lyla Nsouli, and Novocure.

17 Dr. Laetsch's waiver involves two of his
18 employer's research contracts. One is funded by
19 the Children's Oncology Group and the second is
20 funded by Novartis.

21 Dr. Mascarenhas' waiver involves his
22 employer's contract for a study funded by

1 AstraZeneca.

2 The waivers allow these individuals to
3 participate fully in today's deliberations. FDA's
4 reasons for issuing the waivers are described in
5 the waiver document, which are posted on FDA's
6 website at [www.fda.gov/advisorycommittees/
7 committeesmeetingmaterials/drugs/default.htm](http://www.fda.gov/advisorycommittees/committeesmeetingmaterials/drugs/default.htm).
8 Copies of the waivers may also be obtained by
9 submitting a written request to the agency's
10 Freedom of Information Division at 5630 Fishers
11 Lane, Room 1035, Rockville, Maryland, 20857, or
12 requests may be sent via fax to 301-827-9267.

13 For the record, Dr. David Mitchell has been
14 recused from participating in this session of the
15 meeting.

16 To ensure transparency, we encourage all
17 standing committee members and temporary voting
18 members to disclose any public statements that they
19 may have concerning the product at issue. With
20 respect to FDA's invited industry representative,
21 we would like to disclose that. Dr. Jonathan Cheng
22 is participating in this meeting as a nonvoting

1 industry representative, acting on behalf of
2 regulated industry. Dr. Cheng's role at this
3 meeting is to represent industry in general and not
4 any particular company. Dr. Cheng is employed by
5 Merck & Company.

6 We would like to remind members and
7 temporary voting members that if the discussion
8 involves any other products or firms not already on
9 the agenda for which an FDA participant has a
10 personal or imputed financial interest, the
11 participants need to exclude themselves from such
12 involvement and their exclusion will be noted for
13 the record. FDA encourages all participants to
14 advise the subcommittee of any financial
15 relationships that they may have with the firm at
16 issue. Thank you.

17 DR. PAPP0: Thank you very much. I see
18 that. Dr. Kolb just jointed.

19 Andy, do you mind just standing your name
20 for the record, introduce yourself?

21 DR. KOLB: Yes. Hi. This is Andy Kolb.
22 Sorry I was a little late getting back.

1 DR. PAPPO: Thank you.

2 Both the Food and Drug Administration and
3 the public believe in a transparent process for
4 information gathering and decision making. To
5 ensure such transparency of the advisory committee
6 meeting, the FDA believes that it is important to
7 understand the context of an individual's
8 presentation.

9 For this reason, FDA encourages all
10 participants, including the applicant's
11 non-employee presenters, to advise the committee of
12 any financial relationships that they may have with
13 the firm at issue such as consulting fees, travel
14 expenses, honoraria, and interest in the applicant,
15 including equity interests and those based upon the
16 outcome of the meeting.

17 Likewise, the FDA encourages you at the
18 beginning of your presentation to advise the
19 committee if you do not have any such financial
20 relationships. If you choose not to address this
21 issue of financial relationships at the beginning
22 of your presentation, it will not preclude you from

1 speaking.

2 We will now proceed with Celgene
3 International II Sarl's presentation.

4 DR. TADY: Hello, Dr. Pappo? Can you hear
5 me?

6 DR. PAPPO: Yes, we can hear you very well.

7 DR. TADY: Great. Thank you.

8 **Industry Presentation - Deborah Tady**

9 DR. TADY: Good afternoon. I'm Debbie Tady.
10 I'm a global regulatory strategy lead for oncology
11 at Celgene, a Bristol-Myers Squibb company. On
12 behalf of BMS and the marizomib team, I would like
13 to thank the FDA and Dr. Gregory Reaman and his
14 team for the invitation to participate in today's
15 pediatric ODAC meeting.

16 We are here today to present information and
17 to gain feedback on marizomib, a proteasome
18 inhibitor that we are developing for the potential
19 treatment of children with high-grade gliomas,
20 including glioblastoma and diffuse intrinsic
21 pontine glioma.

22 During our presentation today, we will

1 present information on the topic shown on this
2 slide. First, Sherry Leonard, our global
3 regulatory lead for marizomib, will share our
4 commitment to working collaboratively to advance
5 pediatric research. She will provide a summary of
6 the overview of the marizomib development strategy
7 and the regulatory history and she will highlight
8 key activities related to pediatric development.

9 Next, Dr. Mark Kieran, our clinical lead in
10 pediatric oncology, will review the marizomib
11 mechanism of action. He will provide a summary of
12 the Phase 1/2 clinical trial experience in adults,
13 and he will walk us through the ongoing and planned
14 clinical trials for children.

15 For the Q&A session, I will serve as the
16 moderator and will direct the questions from the
17 advisory committee to one of our marizomib team
18 subject matter experts to respond. I would now
19 like to turn this presentation over to Sherry
20 Leonard.

21 MS. LEONARD: Thank you, Debbie.

22 Before I get started, I just want to ask if

1 anyone can see the slides.

2 DR. PAPPO: We can see the slides.

3 DR. REAMAN: I cannot see the slides, and I
4 think there are others that --

5 MS. LEONARD: Okay. I cannot see the slides
6 as well, so I wanted to make sure before I start
7 that they are visible to most people.

8 DR. REAMAN: They're not visible.

9 DR. PAPPO: While we do this, Greg, do you
10 mind introducing yourself?

11 DR. REAMAN: Yes, sorry. I was waiting to
12 be automatically connected as the instructions
13 were, but unfortunately I had to sign off and get
14 back on, so I apologize for being late. But I'm
15 Gregory Reaman, associate director for pediatric
16 oncology in the Oncology Center of Excellence, and
17 I apologize for the technical snafu here and the
18 inability to see the slides.

19 DR. PAPPO: Can everybody see the slides now
20 or are we still working on it?

21 DR. REAMAN: All I see is a green circle
22 twirling around.

1 MALE VOICE: Others of us can see them.

2 CAPT WAPLES: Good afternoon. This is
3 Yvette Waples from the FDA. Can we please put a
4 5-minute pause why we try to figure out the
5 connection?

6 DR. PAPPO: Absolutely.

7 CAPT WAPLES: Thank you.

8 (Pause.)

9 DR. PAPPO: Can everybody see the slides
10 now?

11 MS. LEONARD: Yes.

12 DR. REAMAN: Yes, they're visible now.

13 FEMALE VOICE: Good afternoon. If you still
14 cannot see the slides, you may consider to
15 disconnect your VPN. What we realize is a lot of
16 people, when they have VPN connected, it occupies
17 some bandwidth and causes a delay in the internet.

18 DR. PAPPO: It looks like most people that
19 were not able to see the slides are now able to see
20 them.

21 Greg, can you see them, and everybody else
22 can see them?

1 DR. REAMAN: Yes, I can see them, finally.

2 DR. PAPPO: Okay. Let's proceed with the
3 presentation, then.

4 **Industry Presentation - Sherry Leonard**

5 MS. LEONARD: Thank you.

6 This is Sherry Leonard, global regulatory
7 lead for marizomib. Celgene and BMS are committed
8 to pediatric cancer research. This includes early
9 evaluation of the oncology pipeline using the
10 molecular target to identify potential pediatric
11 tumor type as well as early discussions with FDA
12 for alignment on the pediatric development plan.
13 Our goal is to improve treatment options for
14 children with cancer by decreasing the lag time
15 between adult and pediatric studies and obtaining
16 written requests earlier.

17 As covered in Dr. Reaman's introduction, we
18 are here today to gain advice on the potential role
19 of marizomib in the treatment of pediatric cancers
20 and on the optimal line of studies that may serve
21 as part of a written request. Today we will
22 present an overview of the marizomib program,

1 including development plans for pediatric patients
2 with high-grade glioma, including GBM and DIPG.

3 The adult marizomib development program is
4 focused on newly diagnosed GBM based on two key
5 findings from the phase 1/2 studies. First,
6 marizomib is an irreversible proteasome inhibitor
7 that crosses the blood-brain barrier. This is
8 evident from findings in nonclinical studies and
9 the observed dose-related CNS AEs in adult clinical
10 studies. Second, these CNS AEs, while reversible,
11 determine that the overall benefit-risk profile for
12 marizomib was more favorable for the use in
13 patients with CNS tumors.

14 The phase 1/2 clinical studies have led to a
15 phase 3 study being conducted by the EORTC that is
16 adding marizomib to the standard treatment of
17 temozolomide and radiation therapy, followed by
18 temozolomide. The pediatric development strategy
19 for marizomib is based on the molecular target of
20 proteasome inhibition and CNF penetration, and
21 therefore is focused on high-grade glioma,
22 including GBM and DIPG.

1 We are here today to gain advice for a
2 potential written request focused on high-grade
3 glioma, including GBM and DIPG. On slide 6, you
4 will find a regulatory history and key activities
5 for pediatric development.

6 In 2006, the first adult studies began in
7 advance solid tumors lymphoma and multiple myeloma,
8 then in 2015 development was refocused on GBM based
9 on nonclinical data and the observance of CNS AEs
10 that indicated brain penetration.

11 Beginning in 2017 and over the last three
12 years, we have engaged pediatric advisors. An
13 advisory board convened by Celgene gained
14 regulatory scientific advice from three national
15 health authorities in the EU and reached agreement
16 on a pediatric investigation plan with the European
17 Medicines Agency in an initial pediatric study plan
18 with FDA. Both plans will be discussed further
19 during this presentation.

20 Pediatric expert opinion and health
21 authority advice was thought to guide the marizomib
22 pediatric development plan. Based on activity

1 observed with marizomib and panobinostat in cell
2 lines and models, a pediatric advisory board
3 recommended a gated approach, starting first with
4 DIPG, and as supported by nonclinical studies and
5 the DIPG phase 1 study, proceeding with other
6 pediatric high-grade glioma. This approach was
7 encouraged in the scientific advice received from
8 three national health authorities.

9 As described on the earlier slide, we have
10 reached agreement with FDA and EMA on separate
11 pediatric plans. The key difference between these
12 two plans is that the FDA agreed iPSP only includes
13 high-grade glioma, including GBM, which was based
14 on the adult indication, while the EMA PIP includes
15 these studies and studies for DIPG. Celgene was
16 encouraged by FDA to seek a written request that
17 could include the plan studies as well as any other
18 pediatric indications for which marizomib could
19 offer potential benefit.

20 Next, Dr. Mark Kieran will present the
21 marizomib mechanism of action, a summary of the
22 phase 1/2 clinical experience in adults, as well as

1 the ongoing and planned pediatric clinical trial.

2 **Industry Presentation - Mark Kieran**

3 DR. KIERAN: Thanks, Sherry.

4 I'm Mark Kieran, senior director of
5 pediatric oncology at Celgene BMS, and as mentioned
6 previously, marizomib is a potent irreversible
7 proteasome inhibitor. Proteasomes are important
8 cellular structures that degrade unwanted or
9 aberrant proteins within the cell. Some of these
10 proteins may function to maintain normal cellular
11 homeostasis, while the TP53 protein and tumor cells
12 benefit by removing protein such as this.

13 Proteasome inhibition can alter the relative
14 contribution of proteins within a cell, including
15 those deleterious to the tumor. In general,
16 proteins targeted for destruction are ubiquitinated
17 and pass through the proteasome central core.

18 Three important proteolytic enzymes labeled beta 1,
19 beta 2, and beta 5 within the inner core of the
20 proteasome digest the protein into smaller peptides
21 for recycling.

22 Marizomib has a lipophilic structure that

1 allows it to pass through the blood-brain barrier
2 and covalently binds to these three enzymes within
3 the proteasome core, resulting in the inhibition of
4 protein degradation and preservation of key
5 proteins that may reset normal cellular
6 homeostasis.

7 Marizomib has been studied in five different
8 non-GBM, phase 1/2 single-arm studies involving 280
9 adult patients with a variety of advanced solid
10 tumors, lymphoma and multiple myeloma. While the
11 drug had manageable toxicities, a number of
12 dose-related and reversible CNF AE events were
13 observed.

14 First, this suggest that the drug penetrates
15 the brain, and it's supported by nonclinical data.
16 Second, there are other proteasome inhibitors,
17 three of which are approved for multiple myeloma or
18 mantle cell lymphoma, as well as others that are in
19 clinical trials in non-CNS diseases such as the
20 treatment of solid tumors, lymphoma, and
21 hematologic malignancies. For the blood-brain
22 barrier penetrating qualities, marizomib has

1 focused its development on studies in adult
2 patients with GBM.

3 There are two phase 1/2 studies of marizomib
4 in adults with GBM, Study Marizomib 108, which has
5 been completed, and Study Marizomib 112 that still
6 has subjects on treatment. Study 108 had three
7 parts looking at marizomib alone or in combination
8 with bevacizumab, a VEGF inhibitor commonly used in
9 adult GBM.

10 Study 112 had two treatment arms in an
11 Optune cohort. Arm 1 evaluated marizomib in
12 combination with radiation therapy and
13 temozolomide, the standard of care for adult GBM,
14 while arm 2 evaluated marizomib in combination with
15 adjuvant temozolomide.

16 The Optune cohort evaluated marizomib in
17 combination with temozolomide in tumor-treating
18 fields, or TTFs, which are low-intensity electric
19 fields. Whether it's a single agent or in
20 combination, the recommended phase 2 dose of
21 marizomib with 0.8 milligrams per meter squared
22 typically administered once a week for 3 weeks of

1 each 4-week cycle.

2 Study 108 assessed the activity of both
3 single-agent marizomib as well as marizomib in
4 combination with bevacizumab in recurrent GBM as
5 mentioned before. Some single-agent activity as
6 defined by radiologic responses or prolonged stable
7 disease was observed. See the middle column of the
8 table. The column on the right shows the
9 combination of marizomib with bevacizumab, which
10 has an overall response rate of 34 percent and a
11 duration of response of 5.2 months.

12 To help benchmark these results, a recent
13 report of the GLOBE study of bevacizumab in
14 recurrent GBM, which used the same renal criteria
15 for disease response, demonstrated an objective
16 response rate of only 21.9 percent and a duration
17 of response of only 2.2 months. While this was of
18 interest and recognizing the limitations of
19 cross-study comparisons, there was no improvement
20 in median PSF or OS, and the development of
21 marizomib was refined to focus on newly diagnosed
22 GBM.

1 As mentioned previously, the overall safety
2 profile of marizomib in patients with GBM, both
3 relapsed and newly diagnosed, was similar to that
4 observed in patients with non-GBM tumors. The most
5 common TEAEs are listed on this slide for patients
6 receiving marizomib monotherapy shown in the purple
7 bar, first marizomib in combination with
8 bevacizumab shown in the gray bars and those
9 receiving marizomib in combination with radiation
10 and temozolomide shown in the black bars.

11 DR. PAPPO: Sorry to interrupt you, Mark,
12 but we cannot see those bars in this slide.

13 DR. KIERAN: Do we want to -- is there a
14 possibility -- can you go to the next slide?

15 (Pause.)

16 DR. KIERAN: Okay. No. So both of them are
17 missing the material. I could kind of point it
18 with my hands if we were sitting in front of each
19 other, but unfortunately without the images here,
20 it's going to be hard to show people the results.

21 Alberto, do you want me to just keep going
22 to describe what they would look like or do you

1 want to wait and see if those slides can be fixed?

2 DR. PAPPO: Whatever you think. I mean, if
3 you want to just describe the percentage of
4 toxicities, that would be perfectly fine, Mark.

5 DR. KIERAN: Okay.

6 So what you should have seen on slide number
7 13 was that the majority of the toxicities observed
8 for grade 1 and 2 events were frequent but not of
9 significant grade. There were a small number of
10 grade 3 and grade 4 adverse events that were
11 presented there originally on the slide.

12 These occurred only in a smaller fraction of
13 patients, obviously a little bit depending on
14 whether it was monotherapy in combination with
15 bevacizumab or in combination with radiation and
16 temozolomide, but again, typically less than about
17 10 percent or so of patients.

18 The most common AES were manageable and the
19 vast majority, as I said, were really grade 1 or
20 grade 2. Slide 14 was meant to show you the CNS
21 adverse events from marizomib, again, alone or in
22 combination since, as was pointed out previously,

1 these are often the issues that led to the
2 development of this drug in malignant gliomas.

3 The CNS AEs that you unfortunately can't see
4 on this slide, again, certainly supports the
5 concept that there's blood-brain barrier
6 penetration clinically for marizomib, and this
7 tended to occur early in the first dose, sometimes
8 during cycle 1 or early in cycle 2.

9 Although you can't see the toxicities here,
10 the two that were most problematic for patients
11 were hallucinations, predominantly visual, as well
12 as ataxia. Again, the CNS adverse events were
13 reversible and resolved with dose delays,
14 reductions, or discontinuation in medical
15 management. Again, the majority of these
16 toxicities were grade 1 and grade 2.

17 I also want to point out that in the GBM
18 program, there were six grade 5 TEAEs reported by
19 clinical investigators, including 3 intracranial
20 hemorrhage, 2 progressive disease, and 1 sudden
21 death. None of these events, however, were
22 considered related to marizomib. So in summary,

1 the safety profile of marizomib is manageable,
2 alone and in combination, with bevacizumab or
3 radiation and temozolomide in adult GBM.

4 Let's go to the next slide and see. In
5 summary, although you didn't see all of the
6 specific data, the nonclinical data and clinical
7 experience supports the penetration of marizomib
8 across the blood-brain barrier.

9 The occurrence of dose-related reversible
10 CNS adverse events due to the blood-brain
11 penetration has shown that the risk to benefit
12 ratio is more favorable for use in patients with
13 brain tumors where marizomib has a manageable
14 safety profile, as well as with preliminary
15 evidence of activity in GBM and further potential
16 when used in combination.

17 Based on these results, the EORTC is
18 conducting a randomized phase 3 trial of marizomib,
19 radiation, and temozolomide versus radiation and
20 temozolomide, assessing overall survival benefit in
21 newly diagnosed adult GBM patient.

22 Based on the molecular target of marizomib,

1 it's blood-brain barrier penetration, safety, and
2 tolerability from the adult phase 1/2 studies in
3 the nonclinical findings, that I'll detail in a
4 moment, further development was initiated in
5 children with DIPG. A phase 1 study of marizomib
6 and panobinostat in pediatric DIPG has been
7 initiated, and additional studies in pediatric
8 high-grade glioma are being planned. The initial
9 pediatric clinical and nonclinical data will pave
10 the way for future development.

11 On the next slide is the marizomib agreed
12 PIP, which includes eight different elements, which
13 are detailed in the table. In addition, four of
14 these studies will also support the requirements of
15 the FDA agreed to iPSP.

16 The QUALITY study is focused on the
17 development of a lower dose vial for children and
18 will be gated based on the clinical phase 1/2 study
19 results. The nonclinical studies assess the
20 activity of marizomib in combination with a number
21 of different inhibitors in DIPG cell lines and
22 patient-derived material, and similar work is

1 planned for the high-grade gliomas. Identifying
2 more active combinations with marizomib continues
3 to be an important initiative.

4 The last three are clinical studies,
5 including the phase 1 safety and tolerability
6 assessment of marizomib alone and in combination
7 with panobinostat in children with DIPG. Based on
8 these results of the initial trial of nonclinical
9 study identifying optimal combination approaches as
10 it becomes available, in conjunction with the
11 safety and tolerability from the phase 1 DIPG
12 study, a phase 1/2 trial of marizomib in
13 combination with another drug or modality would be
14 developed for pediatric high-grade glioma. Taken
15 together, Celgene BMS is committed to assessing the
16 quality, the nonclinical, and the clinical activity
17 of marizomib in children with DIPG and other
18 high-grade gliomas.

19 This slide, which fortunately you can see,
20 the top half is labeled part A and shows the
21 in vitro activity of marizomib, panobinostat, or
22 both, in a series of pediatric DIPG cell lines as

1 measured by relative cell viability. The blue bars
2 are for panobinostat activity, the red bars are for
3 marizomib activity, and the purple bars are for the
4 combination of panobinostat and marizomib.

5 In looking at the top graph on the far left,
6 while marizomib, again shown as the red bars, had
7 limited activity and panobinostat, the blue bars,
8 had limited activity in the cell line second to the
9 left, the two drugs together showed significant
10 synergistic activity in all 60 DIPG cell lines
11 shown as the purple bars. In the bottom half of
12 the slide, part B, in vivo or the topic assessment
13 demonstrated activity of both marizomib and
14 panobinostat in a DIPG model.

15 I've mentioned previously the phase 1 trial
16 of marizomib and marizomib plus panobinostat was
17 developed based on the nonclinical studies just
18 presented and was designed to assess the safety and
19 tolerability, PK, and preliminary activity of
20 marizomib and panobinostat in pediatric patients
21 with DIPG.

22 The first cycle will assess the safety,

1 tolerability, and PK of marizomib alone. Beginning
2 with cycle 2, panobinostat will be added, and
3 ongoing safety, tolerability, PK, and preliminary
4 response will continue to be evaluated. This study
5 has just been activated.

6 In conclusion, based on the manageable
7 safety profile in adults, the CNS penetration
8 capacity of marizomib, preliminary evidence of
9 activity in adult GBM, and nonclinical evidence of
10 synergistic activity in DIPG cell lines, marizomib
11 is now being evaluated in children with DIPG.
12 Celgene and BMS would like guidance for a written
13 request that would include both patient
14 populations, high-grade glioma as well as DIPG, and
15 we look forward to gaining your feedback.

16 This final slide, let me thank you on behalf
17 of the marizomib team and now turn the presentation
18 over to Debbie, who will serve as the moderator for
19 the Q&A session.

20 MS. TADY: Thank you, Mark.

21 For the Q&A session, as Mark said, I will
22 serve as the moderator and direct your questions to

1 one of our marizomib team subject matter experts to
2 provide a response.

3 **Clarifying Questions from Subcommittee**

4 DR. PAPPO: Thank you very much for your
5 presentation. We will now take clarifying
6 questions for Celgene International. Please use
7 the raised hand icon to indicate that you have
8 questions. Please remember to put your hand down
9 after you have asked your questions, and please
10 remember to state your name for the record before
11 you speak. It would also be helpful to acknowledge
12 the end of your question with a thank you and end
13 of your follow-up question with that's all of my
14 questions for now so we can move on to the next
15 panel member.

16 So we will start with any questions. Nita?

17 DR. SEIBEL: Yes. Nita Seibel from the NTI.
18 Thank you for that nice presentation. I have two
19 main questions. First of all, can you expand more
20 on the CNS adverse event management plan? You
21 mentioned dose delays, interruptions, and you did
22 mention a pattern somewhat, but how often do they

1 recur? Then the use of antipsychotics, you said
2 they were mainly visual hallucinations, but perhaps
3 you could go into more detail about that.

4 Then my second question is, particularly if
5 you had looked in the preclinical setting at
6 rhabdoid tumors or the SMARCB1 deficient cancers, I
7 know with rhabdoid tumors I think there's been a
8 report of association with those with mixed
9 responding somewhat to proteasome inhibitors; so if
10 you have any preclinical data about that as well.

11 MS. TADY: Thank you, Dr. Seibel. I want to
12 just make sure that we understand. First your
13 question is you'd like for us to expand and provide
14 more information on the CNS management plan and how
15 often the hallucinations recur such that you get a
16 sense of the characterization of the events; and
17 number two, whether we have preclinical data on
18 rhabdoid tumors.

19 DR. SEIBEL: Yes --

20 MS. TADY: Go ahead.

21 DR. SEIBEL: Yes, that's correct,
22 particularly to give us an idea how this would be

1 manifested in children or what we would expect in
2 younger patients.

3 MS. TADY: Okay. To begin with, I'm going
4 to ask our clinical development lead for the adult
5 programs to provide some information on the CNS
6 management plan and how often those hallucinations
7 recurred. That would be Dr. Ileana Elias. Then
8 I'll redirect the second question to another
9 responder once we've answered your question.

10 Ileana, are you on mute?

11 DR. ELIAS: No, I'm here. I hope I'm not on
12 mute. Can you hear me?

13 MS. TADY: We can hear you.

14 DR. ELIAS: I'm Ileana Elias. I'm the
15 clinical development physician for the adult
16 program. We have seen the CNS associated adverse
17 events in about 40 percent of the patients, and as
18 mentioned before, one of the hallmarks of the CNS
19 adverse events were the visual hallucinations. In
20 general, these occurred by cycle 2, but they could
21 have occurred as early as after the first dose of
22 cycle 1.

1 The management was mainly with dose delays
2 and dose reduction. We did not introduce any
3 prophylaxis for these hallucinations because it was
4 not possible to determine which patients would be
5 most likely to experience the hallucinations. In
6 general, after a dose reduction, these
7 hallucinations did not recur with reintroduction of
8 treatment.

9 MS. TADY: Thank you, Dr. Elias.

10 Dr. Seibel, did we answer the first question
11 completely?

12 DR. SEIBEL: Yes.

13 MS. TADY: Okay. And your second question
14 was about whether we have any preclinical data on
15 rhabdoid tumors. The short answer is we don't
16 currently have any data on that. I can just open
17 it up and ask if Dr. Suman Machinani or if Dr. Mark
18 Kieran want to make any additional comments about
19 that in terms of the thinking around obtaining
20 additional data.

21 DR. KIERAN: Thanks, Debbie. This is Mark
22 Kieran, the senior director for pediatric oncology.

1 With respect to the rhabdoid tumors or ATRTs for
2 those in the brain, there has been a recent report.
3 In fact, we're in discussions with academic centers
4 who are specifically interested in those tumors.

5 One of the things we are interested in is
6 identifying combination agents so that any clinical
7 study could be gated not just on the development of
8 this nonclinical and preclinical data, but it would
9 also then give us a chance to incorporate the data
10 that we obtained from the phase 1 DIPG study
11 vis a vis the dosing, the PK, the tolerability, and
12 early evidence of activity.

13 MS. TADY: Thank you, Dr. Kieran.

14 Dr. Seibel, did we fully answer all of your
15 questions?

16 DR. SEIBEL: Yes. Thank you.

17 MS. TADY: You're welcome.

18 DR. PAPPO: Next is Ira Dunkel.

19 DR. DUNKEL: Thank you, Alberto.

20 Ira Dunkel, Memorial Sloan-Kettering. I
21 have two or three questions. One question, kind of
22 related to Dr. Seibel's question, is I believe that

1 I've heard at least one presentation from a
2 non-Celgene BMS investigator suggesting that
3 marizomib may have some potential for
4 medulloblastoma, so I'd like to hear from
5 Dr. Kieran or others if there are data about that
6 and what the development plan might be for
7 medulloblastoma.

8 My second question is maybe a two-part
9 second question. I'm wondering if the phase 2
10 planned study described in the PIP depends, A, on
11 the results of the phase 1 studies or whether it's
12 committed to move ahead regardless of whether
13 there's any suggestion of efficacy in phase 1, and
14 similarly, is it dependent at all on the ongoing
15 EORTC phase 3 study, and when will the results from
16 that EORTC study be available? Thank you.

17 MS. TADY: Thank you, Dr. Dunkel. First of
18 all, we'll address the first question, does
19 marizomib have some potential for activity in
20 medulloblastoma, do we have any data, and if so,
21 what would be the development plan?

22 For this question, I'd like to direct to

1 Dr. Mark Kieran for a response.

2 DR. KIERAN: Yes. Thank you.

3 Ira, a great question. Yes, I think we've
4 probably heard the same presentation vis a vis the
5 potential for proteasome inhibition in
6 medulloblastoma in early preclinical models, and
7 we're actually in discussions with academic centers
8 interested specifically in that disease and the
9 role of marizomib.

10 Again, with regard to the ATRT or rhabdoid,
11 it would be important, not just as a single agent
12 but in combination, to get as much preclinical data
13 as possible and then gate that along with what we
14 learn both from that preclinical data, the
15 preclinical data from our other tumor types, as
16 well as the results, PK toxicity and evidence of
17 activity, of the DIPG trial in terms of determining
18 the optimal way to take that information forward.

19 MS. TADY: Dr. Dunkel, does that answer your
20 question?

21 DR. DUNKEL: Yes, it did. Thank you.

22 MS. TADY: I do know you had additional --

1 DR. PAPPO: Steve?

2 MS. TADY: -- I'm sorry.

3 DR. PAPPO: I'm sorry. There are additional
4 questions. I apologize. Go ahead, Ira.

5 DR. DUNKEL: Oh, I'm sorry. I thought I
6 posed the second question already.

7 MS. TADY: You did, Dr. Dunkel. That was my
8 mix-up.

9 Let me then direct. Your second question
10 was in two parts. You were asking if the phase 2
11 study that was planned as part of the pediatric
12 investigational plan would depend on the results of
13 the phase 1 DIPG study or if it would proceed
14 regardless. Then the second part of that second
15 question was whether or not the phase 2 study would
16 be dependent on the results of the EORTC phase 3 in
17 adults with newly diagnosed GBM.

18 So at least for the first part of the
19 question with regard to whether the phase 2 study
20 would proceed, I'm going to ask Dr. Mark Kieran to
21 begin that response.

22 DR. KIERAN: Great.

1 Ira, again, although the first study is a
2 phase 1 study to determine the optimal dose and
3 schedule of marizomib in this patient population,
4 it includes an expansion cohort. So by the end of
5 the phase 1, there should be a sufficient signal to
6 give an indication to justify the phase 2. This is
7 an important distinction from strictly a
8 dose-finding study where there's very limited
9 ability to interpret clinical activity; here, there
10 would be an opportunity.

11 The trial includes both patients that are
12 newly diagnosed after having received radiation as
13 well as those with recurrent disease. So I think
14 the opportunity to develop that signal, to guide
15 the development of the phase 2, would be available
16 at the time that decision is made. I'll let Debbie
17 take over vis a vis the EORTC question.

18 MS. TADY: Thank you, Mark.

19 Dr. Dunkel, with regard to whether the phase
20 2 study would be dependent on EORTC, the phase 3
21 study in patients with newly diagnosed GBM, the
22 answer is it is not gated to the phase 3 study. I

1 think you also were interested in maybe
2 understanding a little bit of the timing around the
3 EORTC phase 3 study.

4 Did I remember that correctly?

5 DR. DUNKEL: Yes.

6 MS. TADY: For the response to an update on
7 the status of the EORTC phase 3 study, I'm going to
8 ask our clinical lead, Dr. Ileana Elias, to give
9 some information in terms of an update on where
10 that study is.

11 DR. ELIAS: Yes. This is Ileana Elias. The
12 EORTC study is currently accruing. This study has
13 an overall survival as a primary analysis and we
14 are looking at 488 events. Based on the current
15 number of events and the projections on how these
16 events occur, they are expecting that the final
17 analysis will be available in the fourth quarter of
18 2022.

19 DR. DUNKEL: Thank you very much for
20 answering my questions. I apologize to my
21 colleagues if I've taken up too much of the time.

22 MS. TADY: No problem. Thank you,

1 Dr. Dunkel.

2 DR. PAPP0: Steve, you're next.

3 DR. DuBOIS: Steve DuBois from Dana-Farber
4 Boston Children's; a few questions. With other
5 proteosome inhibitors there has been a safety
6 signal of peripheral neuropathy, but I didn't hear
7 that mentioned, so I'm curious about rates of
8 peripheral neuropathy, just thinking about
9 potential combination partners. Then what is known
10 about the role of the proteosome during CNS
11 development, and in pediatric patients, might there
12 be an issue, an impact, on neurodevelopment based
13 on what is known about this pathway in CNS
14 development?

15 The third question is just the lower age of
16 eligibility for the EORTC trial.

17 MS. TADY: Dr. DuBois, thank you. Your
18 first question about the observation with other
19 proteosome inhibitors of peripheral neuropathy and
20 whether that has been seen in the adult studies
21 with marizomib, I'd like to ask Dr. Ileana Elias to
22 please respond.

1 DR. ELIAS: The rate of peripheral
2 neuropathy in our phase 1 studies was extremely
3 low. We had just about 3 percent of grade 3
4 neuropathy in one of the studies, which was in the
5 current GBM. In the newly diagnosed phase 2 GBM
6 study, we didn't see
7 a grade 3 of peripheral neuropathy.

8 DR. DuBOIS: Thank you.

9 MS. TADY: Thank you, Ileana.

10 Ileana, while you're speaking, could you
11 please address Dr. DuBois' question about the lower
12 age of eligibility for the EORTC phase 3 study?

13 DR. ELIAS: The EORTC study enrolls patients
14 18 years and older.

15 MS. TADY: Thank you very much.

16 DR. DuBOIS: Thank you.

17 DR. PAPPO: Then, Dr. DuBois, with your
18 second question, you were really asking what we
19 know about the role of the proteasome in terms of
20 development, I think neurodevelopment, and how that
21 might impact children.

22 DR. DuBOIS: That's exactly right.

1 MS. TADY: What I'd like to do is ask our
2 translational medicine lead, Dr. Suman Machinani,
3 if you could please address Dr. DuBois' question.

4 DR. MACHINANI: Excellent. With regard to a
5 specific role of proteosome, there are no specific
6 targets known, although there is speculation that
7 there could be downregulation of cell cycle and
8 tumor suppressor proteins.

9 With regard to what we know from a
10 neurodevelopment standpoint as it relates to our
11 nonclinical studies, what we can state is that from
12 a 9-cycle or 9-month cynomolgus monkey study,
13 marizomib-related microscopic findings in the brain
14 were present in male and female monkeys at the high
15 dose of 0.45-0.60 milligrams per meter squared and
16 consisted of minimal to mild axonal myelin
17 degeneration, which was restricted to the deep
18 cerebellar white matter.

19 Following the recovery period of 3 months,
20 there were no marizomib-related microscopic
21 findings in the brains of recovery animals,
22 suggesting reversibility. The clinical relevance

1 of the cerebellar findings is unknown, but may
2 include reversible CNS AEs such as ataxia.

3 DR. DuBOIS: Thank you.

4 Alberto. I don't have further questions.

5 Thank you.

6 DR. PAPPO: Thank you.

7 Malcolm. you're next.

8 DR. SMITH: Thank you. Malcolm Smith, NCI.

9 Most of my questions have been answered already. I
10 did have one question, another one about the CNS
11 adverse event. The slide stated that they were
12 generally reversible. Were there any CNS AEs that
13 were not reversible or that reversed very slowly?

14 The second question related to the
15 combination of HDAC inhibitors with proteasome
16 inhibitors and has been studied preclinically in
17 most adult cancers, and data very similar to what
18 was presented for DIPG have been developed. Aside
19 from the myeloma and the panobinostat-bortezomib
20 combination, is there evidence for an HDAC
21 inhibitor/proteasome inhibitor combination showing
22 positive results in a kind of proof-of-concept

1 randomized study?

2 MS. TADY: Thank you, Dr. Smith. Your first
3 question is around what we observed in the adult
4 studies for CNS adverse events. We said they were
5 generally reversible, and your question was, were
6 any not reversible or did they reverse slowly?

7 To respond to that question, I'd like to
8 turn this over to Dr. Ileana Elias.

9 DR. ELIAS: So we observed that the CNS, the
10 toxicity did resolve upon dose reductions, and if
11 they didn't resolve upon dose reductions once the
12 drug was discontinued, then there were no lasting
13 CNS toxicities.

14 Now, this is to say that all these
15 studies -- of course the two studies that were
16 conducted were nonrandomized studies, and having
17 these patients with glioblastoma, at times it's not
18 that easy to differentiate what is due to the
19 disease and the drug. But we have not seen
20 long-lasting CNS toxicities that were thought to be
21 associated with the drug.

22 DR. SMITH: Thank you.

1 MS. TADY: I think your second question,
2 Dr. Smith, had to do with the combination of HDAC
3 inhibitors and proteosome inhibitors. I think your
4 point was that even though it's been studied in
5 most cancers, aside from maybe multiple myeloma,
6 you were asking if there's any data from a
7 randomized study with this combination. Is
8 that --

9 DR. SMITH: Right, is there any proof of
10 concept that an HDAC inhibitor or proteosome
11 inhibitor combination actually works in the clinic
12 the way the in vitro synergy would suggest it
13 might.

14 MS. TADY: I think for the answer to that
15 question, what I'd like to do is ask Dr. Kieran if
16 you could respond to Dr. Smith's question.

17 DR. KIERAN: Malcolm, it's, I think for me
18 at least, a hard question to answer, so I will call
19 on some of my colleagues because I think the
20 question was based on the adult data for the
21 combination of HDAC and proteosomes, where similar
22 in vitro and in vivo preclinical data showed

1 similar activity to what we showed in DIPG, and did
2 any of those turn into activity in adult tumors.
3 Obviously, there's no pediatric data yet, since the
4 pediatric trial is just now beginning.

5 I'll let others with more expertise in the
6 adult area answer that, but one of the things is,
7 obviously, the biology of tumors we know is
8 different, and although there are general
9 overlapped mechanisms, understanding why it is that
10 proteosome inhibitors, for example, work so well in
11 a particular disease like multiple myeloma but not
12 others means that we don't always, or can't always,
13 in pediatrics rely on the adult data of different
14 disease pathways as a perfect guide for activity in
15 pediatrics.

16 I know that's not a complete answer because
17 we don't have any pediatric data yet. I don't
18 know. Debbie, that perhaps Suman has any knowledge
19 of how to answer this question.

20 MS. TADY: Maybe so.

21 Suman, Dr. Machinani, is there any
22 additional information that you can share to

1 respond?

2 DR. MACHINANI: Definitely. I am not aware
3 of any proof-of-concept data generated in adults
4 using HDAC inhibitor and proteasome inhibitor
5 combination for the indications on the discussion.

6 MS. TADY: Thank you very much.

7 Dr. Smith, did we answer your questions to
8 the best of our ability?

9 DR. SMITH: That's fine. Yes, that's fine.
10 Thank you.

11 MS. TADY: Okay.

12 DR. PAPPO: We only have four minutes left
13 for the questions, so we're going to try to get as
14 many people as possible.

15 Tobey, you're next.

16 DR. MacDONALD: Tobey MacDonald, Emory
17 University. Sorry. I had a series of questions,
18 but I'll try to be quick if possible. I just want
19 to know if there is, based on the mechanism, a
20 biologic rationale for focus on DIPG versus other
21 tumors, and in that setting, is there any
22 dependency of activity on H3K27M that's on the

1 slide that was wild type versus K27M but didn't see
2 clearly enough the difference there. And of course
3 that's important since adult GBMs infrequently
4 harbor that mutation.

5 Third, I just wanted to know the rationale
6 for panobinostat in combination versus other drugs,
7 or particularly radiation therapy, and whether
8 there'd be consideration of an upfront DIPG with
9 radiation in the drug if there were data to support
10 that.

11 Finally, are there any biomarkers that are
12 predictive of response or pharmacodynamic assays
13 that could be used to track and follow whether
14 there is a functional activity of the drug when
15 given to the patients. Sorry for many questions.

16 MS. TADY: No, it's quite alright. I was
17 just trying to make sure that I have all of what
18 you'd like to know. First of all, I think you're
19 asking that based on the mechanism of action. is
20 there a biologic rationale for the use in pediatric
21 GBM; is that correct?

22 (No response.)

1 MS. TADY: Dr. MacDonald?

2 (No response.)

3 MS. TADY: I think you're on mute.

4 DR. PAPPO: Tobey? Tobey, can you answer
5 the question?

6 DR. MacDONALD: Sorry about that.
7 Specifically, DIPG in relation to H3K27M and GBM,
8 diffuse midline glioma versus non-diffuse midline
9 glioma.

10 MS. TADY: For your first question, I think
11 this might be a shared response, but I'm going to
12 ask Dr. Suman Machinani if you can start based on
13 the mechanism of action, and then perhaps, Mark,
14 you can also contribute.

15 DR. MACHINANI: Thank you, Debbie.

16 What we know in terms of the mechanism of
17 action, the role in terms of biological rationale,
18 it's still largely unknown with regards to
19 proteasome inhibition, in particular DIPG settings.
20 I'd like to maybe defer to my colleague, Mark
21 Kieran, if there's any additional data on this
22 front. At least from the proteasome stand front,

1 we have not identified a clear biological
2 rationale.

3 DR. KIERAN: Thanks, Suman. Thanks, Tobey.

4 As was pointed out, we don't know exactly
5 which proteins are being inhibited of degradation
6 that account for the effect, but as you noted in
7 the figure, the cell line on the very right-hand
8 side was an H3K27 wild type, and it had the same
9 synergistic activity as the five cell lines with
10 either H3.1 or H3.3 mutation, suggesting that this
11 is not restricted to the H3 mutational status.

12 You're right that DIPG and diffuse midline
13 glioma, the term is originally defined more on the
14 classic historical definition of DIPG vis a vis
15 short symptomatology and radiographic appearance as
16 opposed to the new molecular one. But as we talked
17 about, particularly in the context of thinking
18 about the development of a high-grade glioma trial,
19 which would be kind of high grades other than DIPG
20 and diffuse midline, it is again -- because at
21 least in the early preclinical experience, this
22 does not seem to be restricted to H3K27.

1 Does that --

2 MS. TADY: Dr. MacDonald, does that answer
3 your first question?

4 DR. MacDONALD: That does.

5 MS. TADY: Okay. Then I know you had asked
6 for some information around the rationale for the
7 combination with panobinostat versus other drugs or
8 possibly radiation therapy. I'm also going to ask
9 Dr. Kieran if he could speak to this question about
10 how panobinostat was selected for that phase 1 DIPG
11 study.

12 DR. KIERAN: Yes, thanks.

13 Tobey, in the paper that was published by
14 the Stanford group, which was a large collaboration
15 unto itself, they had screened literally thousands
16 of combinations. In the six DIPG cell lines,
17 panobinostat and marizomib was by and afar the
18 strongest signal they detected and was, again,
19 present in all 6 out of 6 cell lines, and it was
20 based on that, that this kind of went forward.

21 There was some activity, for example, when
22 they looked at panobinostat in combination with

1 some other pathway inhibitors, but not present in
2 all of the cell lines and not to the same degree.
3 And that's why this one has kind of taken
4 precedence in terms of its clinical development.

5 So that's how we got there. Lynn Adele [ph]
6 is the first author of that publication from
7 Michelle Monje's lab where that data was provided.

8 DR. MacDONALD: That's helpful. Any
9 preclinical data with radiation in suggesting
10 upfront trial?

11 DR. KIERAN: Debbie, it is ok if I go ahead
12 and --

13 MS. TADY: Yes, please do, Mark.

14 DR. KIERAN: Actually, as was already
15 mentioned in terms of the adult EORTC trial, in
16 which it will be upfront with their radiation and
17 temozolomide versus radiation and temozolomide,
18 some of that data will hopefully guide us in the
19 future as we kind of see what happens there.

20 In addition, with the DIPG study, although
21 we will not be combining it with radiation, as had
22 been mentioned in the presentation, patients will

1 get one month of marizomib alone, then start the
2 combination with marizomib and panobinostat. But
3 patients eligible for the trial are those that are
4 newly diagnosed with completed radiation, so they
5 won't be on radiation when they start the drugs.
6 They will have just completed their radiation,
7 which I know isn't quite the same thing as
8 synergism with radiation.

9 I'll ask Suman to comment if he knows
10 better. I'm not aware that that was one of the
11 combinations that they did at the Stanford study,
12 but part of our goal is to see whether two drugs
13 are tolerated in pediatric patients and whether
14 there's any signal of activity with absolutely the
15 idea that hopefully one could at some point, if
16 there is activity and it's tolerable, move this
17 more immediately up into the upfront situation.
18 Particularly for a disease like DIPG where there
19 really are no survivors, it would be an absolutely
20 reasonable thing to do. But step 1 and 2 is single
21 agent and combination tolerability and signals of
22 activity.

1 Hopefully that answers the question.

2 DR. MacDONALD: Thank you.

3 DR. PAPP0: I'm sorry. We need to move on.
4 I'm just going to let Dr. Reaman ask the last
5 question.

6 MS. TADY: Sure. Absolutely.

7 DR. REAMAN: Just briefly -- and again to go
8 back to the treatment-emergent neurotoxicity, the
9 only intervention that I understand that was
10 utilized was dose modification or discontinuation
11 of marizomib. Was that a complete discontinuation
12 of marizomib or were patients allowed to restart
13 therapy? And if so, did they have recurrent
14 hallucinations upon restarting?

15 MS. TADY: Thank you, Dr. Reaman. For your
16 response, I'd like to ask Dr. Ileana Elias to
17 please comment on whether there was complete
18 resolution following discontinuation or if
19 marizomib was restarted and the CNS AEs recurred.

20 DR. ELIAS: The recommendation was for the
21 drug to be
22 first-dose reduced. Two dose reductions have been

1 allowed, and the majority of the patients, while
2 they were dose reduced, the hallucinations did not
3 recur. In fact, on the top of my head, I cannot
4 recall any of the patients in our phase 1/2 studies
5 who had had several episodes of hallucinations
6 after they had dose reductions. Of course, for the
7 ongoing phase 3 study, where the patient population
8 will be much larger, we don't have that data
9 available yet.

10 DR. REAMAN: Okay. Thank you.

11 DR. PAPPO: Does that answer your question,
12 Greg?

13 DR. REAMAN: It does. Yes, thanks.

14 **Questions to the Subcommittee and Discussion**

15 DR. PAPPO: If you still have your hand up,
16 please lower it. There is no open public hearing
17 session, so we will now proceed with the charge and
18 questions to the subcommittee and panel
19 discussions. After each question is read, we will
20 pause for any questions or comments concerning its
21 wording; then we will open the questions for
22 discussion. We will ask the FDA to read the first

1 question.

2 DR. CASEY: Hi. This is Denise Casey. Can
3 you hear me?

4 DR. PAPPO: Yes, we can.

5 DR. CASEY: Hi. Good afternoon. Thank you,
6 Celgene, for your presentation and for the
7 thoughtful discussion during the clarifying
8 questions. I think a lot of these topics have been
9 at least partially addressed during that
10 discussion, but we will just go through them.

11 The first question to the committee is to
12 please discuss your thoughts on trial design and
13 rational combination partners for marizomib
14 investigation in pediatric patients with high-grade
15 glioma.

16 DR. PAPPO: If there are no questions or
17 comments concerning the wording of the question, we
18 will now open the questions for discussion. I only
19 see Steve.

20 DR. DuBOIS: Steve DuBois, Dana-Farber. I
21 think during the presentation, I was a little bit
22 worried that the first-in-child study would assign

1 children to marizomib monotherapy and keep them
2 there. And understanding that the population of
3 interest is rather ill, I was a little bit worried
4 about that and was pleased to see that after the
5 first cycle, children move on to the combination.

6 So it's not a question; it's really a
7 comment and maybe praise. That was good to see.

8 Second, in terms of rational combination
9 partners, I seem to recall that this panel
10 discussed ONC201 in midline glioma in the past, and
11 I wondered if that has been looked at preclinically
12 since that appears to be another agent of interest
13 in high-grade midline gliomas.

14 DR. PAPPO: Does anybody on the panel have
15 any insights to that question? Tobey?

16 DR. MacDONALD: Sorry. I don't have any
17 specific insights with regard to ONC201, but it
18 relates back to another question of mine, which was
19 trying to determine the biomarker that may be
20 predictive of response.

21 I don't know if any sort of sequencing
22 analyses have been done post-drug treatment of the

1 DIPG to understand what pathways are being affected
2 most, which would obviously inform us better of
3 what combinations from a functional standpoint may
4 be the most relevant to look at as opposed to just
5 blasting with drug screenings, which are also
6 important, but the complementary approach would be
7 helpful.

8 So as far as I know from the mechanism
9 action of ONC201, which is both a DRD2 antagonist
10 and a QUIP-P [ph] agonist and mitochondrial system,
11 that there is not a specific overlap that I'm aware
12 of, but I could be wrong.

13 DR. PAPPO: Any thoughts on some epigenetic
14 modifiers combined with this, given the histone
15 mutations, that you've seen some of these gliomas
16 in children?

17 (No response.)

18 DR. PAPPO: Any thoughts from the panel,
19 Tobey or Ira, or the experts in CNS tumors?

20 DR. MacDONALD: Again, not specifically that
21 I can see the connection. I would need to see
22 exactly the pathway that is being modified.

1 DR. PAPPO: Ira?

2 DR. DUNKEL: Thank you, Alberto.

3 Ira Dunkel, Memorial Sloan-Kettering. I
4 wanted not to comment on that epigenetic question
5 you just posed, but to reflect on Steve's comment
6 about the strategy for sequentially adding the
7 second drug after a brief window of single-agent
8 drug; although I also agree that it's such a
9 desperate population that this is attractive.

10 I am really playing devil's advocate more
11 than disagreeing, but I wonder when you study it
12 this way, when you then determine the tolerance of
13 the combination, whether you can only conclude that
14 a patient who can tolerate single-agent marizomib
15 can then tolerate the combination at the dose
16 rather than that combination data being relevant
17 for a recommended phase 2 dose for a
18 treatment-naïve population.

19 DR. PAPPO: We have Malcolm.

20 DR. SMITH: Yes. Malcolm Smith, NCI. Thank
21 you, Alberto. I think in staying with the
22 combination discussion, obviously we all hope that

1 this study that's ongoing with the panobinostat and
2 marizomib shows a good activity signal. I think it
3 will be very important, though, to understand
4 whether the activity is more related to marizomib
5 and whether panobinostat actually has a
6 contribution.

7 As per my question, the HDAC
8 inhibitor/proteasome inhibitor combinations have
9 been studied preclinically across many adult
10 cancers, but as far as I know, this is not
11 translated to the clinic aside from the
12 panobinostat added to bortezomib and dexamethasone
13 for myeloma. But outside of myeloma, I'm not aware
14 of any translation.

15 Also, the CNS penetration is low for
16 panobinostat, so I hope that if the study is a
17 success, that we're not locked in necessarily to
18 the combination without understanding whether
19 marizomib might actually be the primary activity
20 driver.

21 DR. PAPPO: Thank you. We have Julia.

22 DR. GLADE BENDER: Thank you for that really

1 interesting presentation. I was just
2 wondering -- and I may know the answer myself. But
3 there's been a precedent in the Children's Oncology
4 Group, particularly for DIPG, to combine the new
5 agent concurrently with radiotherapy in newly
6 diagnosed patients.

7 I'm wondering if this was considered as a
8 strategy and whether it was really the concern over
9 interpreting the CNS toxicity that is the reason
10 that you would initiate the drug after the
11 radiotherapy or whether there's a biologic reason.

12 DR. PAPPO: Thank you. I have Greg.

13 DR. REAMAN: Thanks, Alberto. Greg Reaman.
14 I just wanted to go back to what I thought was
15 maybe a rhetorical question that Ira raised in
16 response to Steve's statement about moving to a
17 combination after single cycle of single agent. I
18 think most patients who end up on investigational
19 drug therapies are desperate, and irrespective of
20 their desperation. I think we have a responsibility
21 to learn from those patients.

22 I would be a little bit concerned about

1 moving too quickly to a combination that may result
2 in toxicity, which is unacceptable, and then
3 abandoning a strategy and potentially abandoning a
4 single agent that hasn't been adequately evaluated
5 that may take more than a single cycle before we
6 see a signal of activity. So just a word of
7 caution.

8 DR. PAPPO: I don't see any other additional
9 comments to this question. If anybody else wants
10 to add anything or I can try to summarize the
11 comments for question number 1.

12 DR. MASCARENHAS: Alberto, this is Leo.
13 Could I ask a question, recommend?

14 DR. PAPPO: Of course.

15 DR. MASCARENHAS: This is Leo Mascarenhas
16 from Children's Hospital Los Angeles. I didn't get
17 to ask this question earlier, but based on Greg's
18 comment, I also would take a little bit of caution
19 with combination therapy because the other class
20 effect seems to be cardiac toxicity and also is the
21 consideration in combination with panobinostat, and
22 CNS tumor patients tend not to have cardiac

1 toxicity in general because of pre-exposure.
2 That's something to keep in mind with combination
3 therapy.

4 DR. PAPPO: Thank you very much.

5 The panel initially expressed some concerns
6 just with monotherapy, but some of the panel
7 members were very happy to see that after the first
8 cycle, there was going to be a combination therapy.
9 However, we strongly caution them to proceed with
10 caution in this specific area because we do not
11 want to abandon the potential strategy of a drug
12 that could be potentially valuable for this disease
13 and then stopping because of significant toxicity.
14 Some other concerns of toxicity, for example, as
15 Leo just raised, might be cardiac toxicity.

16 As far as which agents to combine this with,
17 there were not a whole lot of ideas. Somebody
18 mentioned ONC201, somebody mentioned epigenetic
19 modifiers, but there were really not a lot of other
20 ideas. There was a comment regarding the tolerance
21 of the combination and whether this will be enough
22 to give us enough information for a recommended

1 phase 2 dose. Another one of the comments was
2 whether it has been at least contemplated to add
3 radiotherapy with this drug like it has been done
4 in other trials in DIPGs and COG.

5 I will be more than happy to add anything
6 you want me to add or if I missed anything, please
7 let me know before we proceed to the next question.

8 (No response.)

9 DR. PAPPO: Everybody seems to be happy, so
10 we will now have the FDA read the second question
11 to the committee.

12 DR. CASEY: Hi. This is Denise Casey again.
13 Again, this question was alluded to in the prior
14 discussion, but maybe we can ask the panel.

15 Considering the CNS toxicity profile
16 associated with marizomib in the adult clinical
17 experience to date, can you discuss possible risk
18 mitigation provisions that could be included in
19 pediatric clinical trials and comment on any
20 developmental or age-related assessments and
21 management guidelines that could potentially
22 mitigate risk in younger children who may

1 experience CNS adverse reactions that have been
2 relatively common and occasionally dose-limiting in
3 the adult program.

4 DR. PAPPO: If there are no questions or
5 comments concerning the wording of the question, we
6 will now open this question for discussion. I see
7 a hand with Tobey and a hand with Leo.

8 Tobey, do you want to start?

9 DR. MacDONALD: Sorry. I was raising early
10 for discussion.

11 DR. PAPPO: Steve?

12 DR. DuBOIS: This is Steve DuBois from
13 Dana-Farber. I think the struggle here is that the
14 target patient population with advanced DIPG,
15 unfortunately -- I mean, unless this is an active
16 drug or an active combination, in the initial
17 studies, it will be very challenging to follow
18 these patients for long enough to understand at
19 least the neurodevelopmental effects, if any, of
20 the agent.

21 So I think certainly there will be a plan to
22 manage acute toxicities, but I think any evaluation

1 of longer term neurodevelopment outcomes will
2 likely need to come on follow-on trials.

3 DR. PAPPO: Thank you, Steve.

4 Tobey, do you have a comment about this
5 question?

6 DR. MacDONALD: Yes. In my mind, I think
7 this is really the most critical question, that
8 there be very specific guidelines in place for how
9 to mitigate with 40 to 50 percent
10 hallucination/ataxia and other CNS side effects.
11 Life is short for these patients. Quality of life
12 is even shorter.

13 This is going to be in a particularly young
14 age group. I'm concerned that reporting AEs may be
15 challenging, if it's visual hallucinations, exactly
16 what's going on with our patients who will probably
17 be on steroids as well, so there's that effect in
18 combination. Risk could be greater in the younger
19 population.

20 I think this may slow accrual because if
21 faced with other trials that have drugs, and there
22 are trials with very little to no side effects, it

1 will be a little bit of a hard sell. And we know
2 despite the very promising preclinical data, as
3 Malcolm said, the translation to a clinical benefit
4 has yet to be realized. So I think there needs to
5 be thought into exactly what drugs would be used
6 and how this will be addressed because I see this
7 as a challenge. Thank you.

8 DR. PAPPO: To follow up on your comments,
9 I've had the same concerns about the attribution.
10 Given the toxicity profile of this drug and the
11 type of disease that you are targeting, I think
12 that sometimes it will be very challenging to
13 assign an attribution as to where this was related
14 to progression to the disease or to the drugs. So
15 that's going to have to be very well specified in
16 the guidelines of the protocol.

17 I think that Greg raised his hand again.

18 (No response.)

19 DR. PAPPO: Greg, do you have a comment
20 about the question?

21 (No response.)

22 DR. PAPPO: I think you're on mute.

1 DR. REAMAN: Sorry. I think I'm off mute
2 now. I just wanted to clarify, Alberto, that the
3 point of this question was not about long-term
4 neurodevelopmental toxicity, but really acute
5 neurotoxicity risk mitigation or management. We're
6 well aware that in at least the early phases of
7 evaluation of this agent, if the signals of
8 activity are compelling enough, we're not really
9 focused on long-term, but it was really the acute
10 neurotoxicity.

11 I would agree that particularly in this
12 patient population, attribution of some of these
13 neurotoxicities are going to be difficult to
14 establish, and recognizing visual hallucinations in
15 very young children I think will be difficult as
16 well. But that's what we were trying to elucidate,
17 were really discussions around that issue.

18 DR. PAPPO: Anybody else have any comments?
19 I don't see any other hands.

20 (No response.)

21 DR. PAPPO: Okay. To summarize this, the
22 purpose of this question was really not to talk

1 about, really, the long-term effects of these
2 agents but acute toxicities and how to mitigate the
3 occurrence of these toxicities. Some of the panel
4 suggested that there will be very specific
5 guidelines on how to mitigate the effect and how to
6 make attributions of the drug to the side effects.
7 The other important thing would also be how to
8 identify side effects in a younger population.

9 I don't know if I've missed anything or if
10 anybody wants to add anything, Tobey, or Greg, or
11 anybody else.

12 DR. REAMAN: I think you covered it.

13 DR. MacDONALD: I think you covered it.

14 DR. PAPPO: So if everybody's happy, we will
15 proceed to the third question. The FDA will read
16 the third question.

17 DR. CASEY: Hi. This is Denise Casey. The
18 third and final question is, are there non-CNS
19 pediatric cancers that should be considered for
20 evaluation in the marizomib development program?

21 DR. PAPPO: If there are no questions or
22 comments concerning the wording of the question, we

1 will now open the questions for discussion.

2 Bill?

3 DR. PARSONS: Was that for me, Alberto?

4 This is Will.

5 DR. PAPPO: Yes. You raised your hand, yes.

6 DR. PARSONS: Thank you. I've been trying
7 to ask a question previously, and we didn't have
8 time. I think this question gets to one challenge
9 of several discussions we've had here, which is,
10 based on the previous discussion, I'm actually not
11 quite sure of the specific biologic rationale for
12 which CNS tumors should be studied. People have
13 asked specific questions about rhabdoid tumors,
14 medulloblastomas, et cetera.

15 There's honestly, as far as I've perceived,
16 not a very specific biologic rationale for why
17 H3K27Ms, DIPGs, or midline gliomas would be the
18 priority. I understand from practical reasons that
19 those are patients who desperately need therapies
20 that we might want to try there.

21 So that actually is what makes, I think,
22 consideration of other types, both CNS and non-CNS

1 tumor types, a little bit difficult. It's also
2 what I believe made discussion of rational
3 combination therapies a little bit difficult
4 because we could discuss other investigational
5 agents that are relevant to DIPG and high-grade
6 glioma, but trying to make the link to the specific
7 biology of this agent was challenging.

8 So I think it's, I guess, more a comment
9 than a question, but my comment is essentially that
10 it's challenging for me to say what other tumor
11 types specifically should be studied beyond other
12 clinical needs or strategic concerns.

13 DR. PAPPO: Thank you. One of the comments
14 I had is sort of related to what you said, Will,
15 and that was when they presented the data, they
16 said that the CNS tumors outweighed, because of the
17 toxicity profile, some other tumors.

18 So I really cannot think of any other
19 non-CNS pediatric cancers, at least at this stage,
20 in which there would be a very strong rationale for
21 testing this agent, especially with the toxicity
22 profile that has been described under high CNS

1 penetration.

2 Catherine Bollard is next.

3 DR. BOLLARD: I was just interested, given
4 that I'm a lymphoma doctor, what the data would be
5 to support a lymphoma indication in a relapse
6 setting for children, given that, obviously, this
7 was evaluated in adults with lymphoma and myeloma.

8 DR. PAPPO: I don't have an answer for you.
9 I don't know if anybody on the panel wants to
10 comment.

11 DR. BOLLARD: I was just putting it out
12 there for Celgene, too.

13 DR. PAPPO: Then we have Steve.

14 DR. DuBOIS: Steve DuBois from Dana-Farber.
15 There is literature on bortezomib in combination
16 with chemotherapy for children with relapsed ALL
17 that I think showed nice activity, and that's not a
18 primary CNS cancer but certainly a childhood cancer
19 that can disseminate to the central nervous system.
20 So I do wonder about that as an option and would
21 welcome maybe Elizabeth or Andy's thoughts as bona
22 fide leukemia doctors, which I can't claim to be.

1 DR. PAPPO: Any comments on the leukemia
2 aspect?

3 DR. KOLB: Yes. Hi. It's Andy Kolb
4 from --

5 DR. RAETZ: Yes --

6 DR. KOLB: -- oh, sorry, Elizabeth. Do you
7 want to go first?

8 DR. RAETZ: No, no. Please go ahead, Andy.

9 DR. KOLB: Oh, sure. This is Andy Kolb, and
10 I represent the COG Myeloid Disease Committee and a
11 pediatric oncologist at duPont Hospital for
12 Children.

13 I think from the AML perspective, we have
14 invested quite a bit in studying proteasome
15 inhibitors in pediatrics. I think it would be hard
16 to conduct another trial in AML. There are
17 signals. You could enrich a population looking at
18 signals, first signal, but I don't think that that
19 would be a primary development path.

20 I'll let Elizabeth comment about T-cell and
21 T-cell ALL.

22 DR. RAETZ: Thanks. This is Elizabeth

1 Raetz. I think, Steve, just as you've mentioned,
2 there have been some promising results. Bortezomib
3 has been used in a salvage relapse regimen for a
4 relapse B and T ALL, and the results from that
5 trial were published and showed some favorable
6 early response rates. Then the ALL 1231 Frontline
7 TLL trial closed early, but had a randomization to
8 bortezomib during induction and delayed
9 intensification for T-cell ALL.

10 So I think we're still waiting for final
11 definitive conclusions from that study, but I think
12 because proteasome inhibitors have been studied, I
13 would see that there may not be as much of a path
14 forward there because they've been studied fairly
15 extensively previously.

16 DR. PAPPO: Thank you very much.

17 Greg?

18 DR. REAMAN: Thanks, Alberto. Just to
19 address Dr. Bollard's question about lymphomas, I
20 think it's a very difficult space, fortunately, in
21 that the number of children with relapsed and
22 non-Hodgkin lymphoma is really very, very small.

1 I think in a recent international Accelerate
2 the Strategy forum, proteasome inhibitors were not
3 really high on the list of priority agents to
4 evaluate given some of the other immune-directed
5 therapies, antibodies, naked antibodies, as well as
6 antibody drug conjugates, and even possibly
7 engineered cell therapy, so it might be a little
8 difficult.

9 I think evaluating another proteasome
10 inhibitor in the lymphoid leukemia space, it is
11 also crowded. There are a couple of proteasome
12 inhibitors under evaluation, and the studies are
13 fraught with great difficulty in accruing patients.
14 The real role for proteasome inhibitors in either
15 salvage therapy or upfront therapy, and anything
16 other than T-cell ALL, I think is really a question
17 that is of real interest.

18 DR. PAPPO: Thank you. Just a friendly
19 reminder that if you've asked the question to lower
20 your hand, and then we have Leo.

21 DR. MASCARENHAS: Sorry. I should have put
22 down my hand because Steve asked my question.

1 DR. PAPPO: Thank you.

2 Are there any other comments on this third
3 question? Will? We have Malcolm and Will.

4 Malcolm, would you like to comment on this
5 question?

6 DR. SMITH: Yes, please. This is Malcolm
7 Smith, NCI, a couple of points and some reiterating
8 points made. I think there's a general question of
9 is there really any place else left to study for
10 proteosome inhibitors in childhood cancers?
11 There's been a good discussion about AML and other
12 cancers, but I think an agent that has substantial
13 CNS toxicity really isn't going to rise to the top
14 among the class of proteosome Inhibitors. So I
15 think just from that reason alone, the answer is
16 no.

17 I do want to emphasize Greg's point about
18 especially the non-Hodgkin lymphoma and especially
19 the B-cell non-Hodgkin lymphoma. Greg mentioned
20 the ACCELERATE meeting. The publication in 2019, I
21 think it listed that there were 13 PIPS already,
22 and thankfully our treatment has gotten so

1 effective for most of these children that the
2 number of children who would be eligible for
3 these trials of novel agents has gotten quite
4 small.

5 Then there are all the T-cell engagers that
6 are exciting to the adult lymphoma docs, and
7 ADC [ph] that's shown high-level activity and
8 reported at ASCO looks exciting, AND the CAR
9 T-cell. There are so many opportunities, so I
10 think the bar is incredibly high for non-Hodgkin
11 lymphoma, and I just think we need to acknowledge
12 that whenever we talk about NHL and new drugs
13 moving into the pediatric NHL area.

14 DR. PAPPO: Thank you, Malcolm.

15 Dr. Cheng?

16 DR. CHENG: Hi. I just want to make a
17 comment, so thank you for recognizing me. Jon
18 Cheng, industry rep. I think we all want to
19 understand other pediatric tumors, but as everyone
20 recognized, sometimes it's a little bit difficult.
21 I do think it's helpful to distinguish studying
22 other tumors in the context of investigate and

1 initiate hypothesis generating, and then also
2 getting clarity on the context of a written
3 request.

4 So I think studying it in the context just
5 to understand biology is helpful, but studying in
6 the context of a request I think should be pretty
7 focused so that it allows the sponsor an
8 opportunity to clarify things.

9 So as I understand it, Celgene the sponsor
10 has studied a number of tumors, and they're focused
11 on GBM appropriately. So I just want to make sure
12 that that's maybe helpful to understand maybe from
13 the committee or the FDA's perspective. Those are
14 two different things. potentially, from a sponsor's
15 perspective.

16 DR. PAPP0: Thank you very much for that
17 comment.

18 Anybody else have any comments or I'll try
19 to summarize this very brief discussion on question
20 number 3?

21 (No response.)

22 DR. PAPP0: I think the overall consensus

1 was that this proteasome inhibitor space in that
2 leukemia-lymphoma world is very crowded, and there
3 was not a lot of interest in pursuing this agent in
4 that patient population. There was some concern
5 also about what is the optimal patient population
6 with CNS tumors in which this specific compound
7 needs to be studied.

8 The other thing that we talked about was
9 what Dr. Cheng just said, that we need to be
10 cognizant of the fact that we need to consider the
11 written request versus investigator-initiated
12 trials, and there's a specific biological rationale
13 for this specific agent.

14 I don't know if I left anything out or if
15 anybody wants to add anything to what I just
16 mentioned.

17 (No response.)

18 DR. PAPP0: If not, Dr. Reaman will now
19 provide our closing comments for the day.

20 **Closing Remarks - Gregory Reaman**

21 DR. REAMAN: Thanks, Alberto. My closing
22 comments will actually be very brief. I'll start

1 again with a thank you to the members of the
2 advisory committee and also to our sponsor, Celgene
3 BMS. I think this was an interesting product. I
4 think we had some very interesting insightful
5 discussions. Again, I just want to thank everybody
6 for their participation. I look forward to your
7 participation tomorrow.

8 Again, I just want to apologize for the
9 change in agenda since our second day was planned
10 to discuss the relevant molecular target list and
11 look at the specific targets that are the result of
12 specific genetic aberrations and go over that. But
13 given the fact that we had to do this meeting as a
14 virtual meeting, we'll plan for some time in the
15 future when things get back to normal, or when we
16 all get used to the new normal, and hopefully plan
17 a face-to-face open public workshop to discuss the
18 target list.

19 So again, thank you very much for the
20 discussions and presentations today. Thanks.

21 DR. PAPPO: Thank you very much, Greg. We
22 will now adjourn the meeting for today and continue

1 to the next session tomorrow morning at 10 o'clock
2 in the morning.

3 Dr. Bonner, anything we need to know about?
4 We have the same link to join the meeting tomorrow,
5 the same that you sent this morning, or any other
6 things that we need to know about?

7 CDR BONNER: Hi. This is LaToya Bonner. It
8 is the same length, so you'll use the same link for
9 tomorrow morning's meeting starting at 10. Make
10 sure, for those who are participating in the actual
11 discussion to phone 30 minutes before the meeting
12 starts.

13 **Adjournment**

14 DR. PAPP0: Thank you very much, and we will
15 see you all tomorrow. Thank you so much.

16 CDR BONNER: Thank you.

17 (Whereupon, at 3:11 p.m., the afternoon
18 session was adjourned.)

19

20

21

22