

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

PEDIATRIC ONCOLOGY SUBCOMMITTEE OF THE
ONCOLOGIC DRUGS ADVISORY COMMITTEE
(pedsODAC)

Thursday, June 18, 2020

1:21 p.m. to 3:05 p.m.

Topic 2
Afternoon Session

Virtual Meeting

1 **Meeting Roster**

2 **ACTING DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **LaToya Bonner, PharmD**

4 Division of Advisory Committee and

5 Consultant Management

6 Office of Executive Programs, CDER, FDA

7

8 **ONCOLOGIC DRUGS ADVISORY COMMITTEEMEMBERS (Voting)**

9 **David Mitchell**

10 *(Consumer Representative)*

11 *(Participation in Day 1 Topic 1 and Day 2 Only)*

12 Founder, Patients for Affordable Drugs

13 Bethesda, Maryland

14

15 **Alberto S. Pappo, MD**

16 *(Chairperson, pedsODAC)*

17 Member and Head, Division of Solid Malignancies

18 St Jude Children's Research Hospital

19 Professor of Pediatrics

20 University of Tennessee Health Science Center

21 Memphis, Tennessee

22

1 **ONCOLOGIC DRUGS ADVISORY COMMITTEEMEMBER (Non-Voting)**

2 **Jonathan D. Cheng, MD**

3 *(Industry Representative)*

4 Vice President and Oncology Therapeutic

5 Area Head, Merck Research Laboratories

6 Oncology Clinical Research

7 North Wales, Pennsylvania

8

9 **TEMPORARY MEMBERS (Voting)**

10 **Catherine Bollard, MBChB, MD**

11 Director, Center for Cancer and Immunology Research

12 Professor of Pediatrics and Immunology

13 Children's National Health System

14 The George Washington University

15 Washington, District of Columbia

16

17

18

19

20

21

22

1 **Steven G. DuBois, MD**

2 *(Participation in Day 1 Topic 2 and Day 2 Only)*

3 Director, Experimental Therapeutics

4 Dana-Farber/Boston Children's Hospital

5 Associate Professor of Pediatrics

6 Harvard Medical School

7 Boston, Massachusetts

8

9 **Ira J. Dunkel, MD**

10 Professor of Pediatrics

11 Weill Cornell Medical College

12 Pediatric Oncologist

13 Department of Pediatrics

14 Memorial Sloan Kettering Cancer Center

15 New York, New York

16

17 **Julia Glade Bender, MD**

18 Vice Chair for Clinical Research

19 Department of Pediatrics

20 Memorial Sloan Kettering Cancer Center

21 New York, New York

22

1 **Richard Gorlick, MD**

2 Division Head and Department Chair, Pediatrics

3 Professor of Pediatrics

4 Robert A. Mosbacher Chair of Pediatrics

5 Department Chair ad interim, Sarcoma Medical Oncology

6 University of Texas MD Anderson Cancer Center

7 Children's Cancer Hospital

8 Houston, Texas

9 **Katherine A. Janeway, MD, MMSc**

10 Associate Professor of Pediatrics

11 Harvard Medical School

12 Senior Physician

13 Dana-Farber/Boston Children's Cancer and Blood

14 Disorders Center

15 Director, Clinical Genomics

16 Dana-Farber Cancer Institute

17 Boston, Massachusetts

18

19

20

21

22

1 **Naynesh R. Kamani, MD**

2 Attending Physician

3 Division of Allergy-Immunology

4 Children's National Health System

5 Clinical Professor of Pediatrics

6 George Washington University School of Medicine and

7 Health Sciences

8 Washington, District of Columbia

9

10 **E. Anders Kolb, MD**

11 Vice Chairman for Research

12 Professor, Department of Pediatrics

13 Sidney Kimmel Medical College at

14 Thomas Jefferson University

15 Director

16 Nemours Center for Cancer and Blood Disorders

17 Nemours/Alfred I. duPont Hospital for Children

18 Wilmington, Delaware

19

20

21

22

1 **Theodore W. Laetsch, MD**

2 Associate Professor of Pediatrics

3 Norma and Jim Smith Professor of Clinical Excellence

4 Eugene P. Frenkel, M.D. Scholar in Clinical Medicine

5 Harold C. Simmons Comprehensive Cancer Center

6 University of Texas Southwestern Medical Center

7 Experimental Therapeutics Program Leader

8 Children's Health

9 Dallas, Texas

10

11 **Donna Ludwinski, BSChE**

12 *(Patient Representative)*

13 New York, New York

14

15 **Tobey J. MacDonald, MD**

16 Aflac Endowed Chair for Pediatric Neuro-Oncology

17 Professor of Pediatrics

18 Director, Pediatric Neuro-Oncology Program

19 Aflac Cancer & Blood Disorders Center

20 Children's Healthcare of Atlanta

21 Emory University School of Medicine

22 Atlanta, Georgia

1 **Leo Mascarenhas, MD, MS**

2 Deputy Director, Cancer and Blood Disease Institute

3 Section Head- Oncology and

4 Director Sarcoma and Solid Tumor Program

5 Division of Hematology and Oncology

6 Department of Pediatrics

7 Children's Hospital Los Angeles

8 Associate Professor of Pediatrics

9 Keck School of Medicine

10 University of Southern California

11 Los Angeles, California

12

13 **D. Williams (Will) Parsons, MD PhD**

14 Associate Professor of Pediatrics

15 Baylor College of Medicine

16 Deputy Director, Texas Children's Cancer and

17 Hematology Centers

18 Houston, Texas

19

20

21

22

1 **Elizabeth Raetz, MD**

2 Professor of Pediatrics

3 NYU Grossman School of Medicine

4 Director, Division of Pediatric Hematology/Oncology

5 NYU Langone Health

6 New York, New York

7

8 **Nita Seibel, MD**

9 Head, Pediatric Solid Tumor Therapeutics

10 Clinical Investigations Branch, Cancer Therapy

11 Evaluation Program

12 Division of Cancer Treatment and Diagnosis

13 National Cancer Institute

14 National Institutes of Health (NIH)

15 Bethesda, Maryland

16

17

18

19

20

21

22

1 **Malcolm A. Smith, MD, PhD**

2 Associate Branch Chief for Pediatrics

3 Clinical Investigations Branch

4 Cancer Therapy Evaluation Program

5 Division of Cancer Treatment and Diagnosis

6 National Cancer Institute, NIH

7 Rockville, Maryland

8

9 **FDA PARTICIPANTS (Non-Voting)**

10 **Gregory H. Reaman, MD**

11 Associate Director for Pediatric Oncology

12 Oncology Center of Excellence

13 Office of the Commissioner

14 Associate Director for Oncology Sciences

15 Office of Oncologic Diseases (OOD)

16 Office of New Drugs (OND), CDER, FDA

17

18 **Denise Casey, MD**

19 Medical Officer

20 Division of Oncology 3 (DO3)

21 OOD, OND, CDER, FDA

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Leslie Doros, MD

Medical Officer

DO3, OOD, OND, CDER, FDA

Megan Zimmerman, MD

Medical Officer

Clinical Hematology Branch

Division of Clinical Evaluation and

Pharmacology/Toxicology

Office of Tissues and Advanced Therapies

Center for Biologics Evaluation and Research, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order and Introduction of Committee	
4	Alberto Pappo, MD	13
5	Topic 2: SNDX-5613 - Syndax Pharmaceuticals	
6	Conflict of Interest Statement	
7	LaToya Bonner, PharmD	19
8	Industry Presentations	
9	SNDX-5613 for the Treatment of	
10	Pediatric MLL-r Acute Leukemia	
11	Michael Meyers, MD, PhD	25
12	Clarifying Questions from Subcommittee	40
13	Open Public Hearing	50
14	Questions to Subcommittee and Discussion	67
15	Closing Remarks	
16	Gregory Reaman, MD	94
17	Adjournment	95
18		
19		
20		
21		
22		

1 P R O C E E D I N G S

2 (1:21 p.m.)

3 **Call to Order**

4 **Introduction of Committee**

5 DR. PAPPO: Good afternoon and welcome to
6 the final session of the Pediatric ODAC meeting.
7 For media and press, I would like to announce the
8 FDA press contact is Nathan Arnold, and his email
9 is nathan.arnold@fda.hhs.gov, and his phone number
10 is 301-796-6248.

11 My name is Alberto Pappo, and I will be
12 chairing today's virtual meeting. I will now call
13 the afternoon session of the Pediatric Oncology
14 Subcommittee of the Oncologic Drugs Advisory
15 Committee to order.

16 We will proceed with introducing our panel
17 members again, and as we've done in previous
18 sessions, we will use a call/respond method in
19 which I will call the name of the panelist, and
20 then you will introduce yourself for the record.
21 We will start with David Mitchell.

22 MR. MITCHELL: Thank you. I'm sorry,

1 Doctor. I'm David Mitchell. I'm the consumer
2 representative. I'm also a cancer patient with
3 multiple myeloma.

4 DR. PAPPO: My name is Alberto Pappo. I'm
5 a pediatric oncologist at St. Jude Children's
6 Research Hospital, and I'm the chairperson for the
7 Pediatric ODAC meeting.

8 Dr. Cheng?

9 DR. CHENG: Good afternoon. Jonathan
10 Cheng, industry rep, and I'm with Merck.

11 DR. PAPPO: Dr. Catherine Bollard?

12 DR. BOLLARD: Hi. I'm Dr. Catherine
13 Bollard from George Washington University and
14 Children's National in Washington, DC.

15 DR. PAPPO: Dr. Steven DuBois?

16 DR. DuBOIS: Steve DuBois, Dana-Farber
17 Boston Children's. I'm a pediatric oncologist.

18 DR. PAPPO: Dr. Ira Dunkel?

19 DR. DUNKEL: Hi. My name is Ira Dunkel.
20 I'm a pediatric neuro-oncologist at the Memorial
21 Sloan Kettering Cancer Center in New York.

22 DR. PAPPO: Dr. Julia Glade Bender?

1 DR. GLADE BENDER: Good afternoon. I'm
2 Julia Glade Bender also from Memorial Sloan
3 Kettering in New York City, and I'm a pediatric
4 oncologist.

5 DR. PAPPO: Dr. Richard Gorlick?

6 DR. GORLICK: I'm Richard Gorlick. I'm the
7 division head of pediatrics at the MD Anderson
8 Cancer Center in Houston, Texas.

9 DR. PAPPO: Dr. Theodore Laetsch?

10 DR. LAETSCH: Hi. I'm Ted Laetsch, a
11 pediatric oncologist at UT Southwestern in Dallas.

12 DR. PAPPO: Donna Ludwinski?

13 MS. LUDWINSKI: Hi. I'm Donna Ludwinski, a
14 patient representative with Solving Kid's Cancer in
15 New York.

16 DR. PAPPO: Dr. Andy Kolb?

17 DR. KOLB: Yes. Hi. This is Andy Kolb.
18 I'm a pediatric oncologist and director of the
19 Nemours Center for Cancer and Blood Disorders in
20 Wilmington, Delaware.

21 DR. PAPPO: Dr. Katherine Janeway?

22 DR. JANEWAY: Hi. This is Katherine

1 Janeway or Katie Janeway. I am a pediatric
2 oncologist at Dana-Farber and Boston Children's
3 Hospital in Boston, Massachusetts.

4 DR. PAPPO: Dr. Naynesh Kamani?

5 DR. KAMANI: Hi. Good afternoon. This is
6 Naynesh Kamani. I'm a pediatric immunologist bone
7 marrow transplanter from Children's National in
8 Washington, DC.

9 DR. PAPPO: Dr. Tobey MacDonald?

10 DR. MacDONALD: Hi. I'm Tobey MacDonald,
11 pediatric neuro-oncologist at Emory University and
12 Children's Healthcare of Atlanta.

13 DR. PAPPO: Dr. Leo Mascarenhas?

14 DR. MASCARENHAS: Hi. I'm Leo Mascarenhas.
15 I'm the deputy director for the Cancer and Blood
16 Disease Institute and head of oncology at
17 Children's Hospital Los Angeles, The University of
18 Southern California.

19 DR. PAPPO: Dr. William Parsons?

20 DR. PARSONS: Will Parsons, a pediatric
21 oncologist at Texas Children's Hospital and Baylor
22 College of Medicine in Houston, Texas.

1 DR. PAPPO: Dr. Elizabeth Raetz?

2 DR. RAETZ: Hi. I'm Elizabeth Raetz, a
3 pediatric oncologist at New York University.

4 DR. PAPPO: Dr. Nita Seibel?

5 DR. SEIBEL: Hi. Good afternoon. I'm Nita
6 Seibel, a pediatric oncologist and the clinical
7 investigator at the Cancer Institute,

8 DR. PAPPO: Dr. Malcolm Smith?

9 DR. SMITH: Good afternoon. I'm Malcolm
10 Smith, pediatric oncologist in the Cancer Therapy
11 Evaluation Program at the National Cancer
12 Institute.

13 DR. PAPPO: Dr. LaToya Bonner?

14 CDR BONNER: Hi. LaToya Bonner, DFO for
15 this meeting.

16 DR. PAPPO: Dr. Gregory Reaman?

17 DR. REAMAN: Hi. Good afternoon. Greg
18 Reaman. I'm associate director for pediatric
19 oncology in the FDA's Oncology Center of
20 Excellence.

21 DR. PAPPO: And Dr. Denise Casey?

22 DR. CASEY: Hi. This is Denise Casey,

1 pediatric oncologist, FDA, Division of Oncology 3.

2 DR. PAPPO: Dr. Leslie Doros?

3 DR. DOROS: Hi. This is Leslie Doros, FDA,
4 Division of Oncology 3, pediatric oncologist.

5 DR. PAPPO: And Dr. Megan Zimmerman?

6 DR. ZIMMERMAN: Hi. Megan Zimmerman. I'm
7 a pediatric oncologist and clinical reviewer at
8 FDA.

9 DR. PAPPO: For topics such as those being
10 discussed at today's meeting, there are often a
11 variety of opinions, some of which are quite
12 strongly held. Our goal is that today's meeting
13 will be a fair and open forum for discussion of the
14 issues and that individuals can express their views
15 without interruption. Thus, as a gentle reminder,
16 individuals will be allowed to speak into the
17 record only if recognized by the chairperson. We
18 look forward to a productive meeting.

19 In the spirit of the Federal Advisory
20 Committee Act and the Government in the Sunshine
21 Act, we ask that the advisory committee members
22 take care that their conversations about the topic

1 at hand take place in the open forum of the
2 meeting. We are aware that members of the media
3 are anxious to speak with the FDA about these
4 proceedings, however, the FDA will refrain from
5 discussing the details of this meeting with the
6 media until its conclusion. Also, the committee is
7 reminded to please refrain from discussing the
8 meeting topic during the breaks or lunch. Thank
9 you.

10 Now Dr. LaToya Bonner will read the
11 Conflict of Interest. Statement for the meeting

12 **Conflict of Interest Statement**

13 CDR BONNER: Thank you.

14 The Food and Drug Administration is
15 convening today's meeting of the Pediatric Oncology
16 Subcommittee of the Oncologic Drug Advisory
17 Committee under the authority of the Federal
18 Advisory Committee Act, FACA, of 1972. With the
19 exception of the industry representative, all
20 members of the committee and temporary voting
21 members of the subcommittee are special government
22 employees or regular federal employees from other

1 agencies and are subject to federal conflict of
2 interest laws and regulations.

3 The following information on the status of
4 the subcommittee's compliance with federal ethics
5 and conflict of interest laws, covered by but not
6 limited to those found at 18 U.S.C. Section 208, is
7 being provided to participants in today's meeting
8 and to the public. FDA has determined that members
9 of the committee and temporary voting members of
10 the subcommittee are in compliance with federal
11 ethics and conflict of interest laws.

12 Under 18 U.S.C. Section 208, Congress has
13 authorized FDA to grant waivers to special
14 government employees and regular federal employees
15 who have potential financial conflicts when it is
16 determined that the agencies need for a special
17 government employee's services outweighs his or her
18 potential financial conflict of interest or when
19 the interest of a regular federal employee is not
20 so substantial as to be deemed likely to affect the
21 integrity of the services which the government may
22 expect from the employee.

1 Related to the discussion of today's
2 meeting, members of the committee and temporary
3 voting members of the subcommittee have been
4 screened for potential financial conflicts of
5 interest of their own as well as those imputed to
6 them, including those of their spouses or minor
7 children and, for purposes of 18 U.S.C.
8 Section 208, their employers. These interests may
9 include investments; consulting; expert witness
10 testimony; contracts, grants, CRADAs; teaching,
11 speaking, writing; patents and royalties; and
12 primary employment.

13 For today's agenda, information will be
14 presented regarding pediatric development plans for
15 two products that are in development for an
16 oncology indication. The subcommittee will
17 consider and discuss issues relating to the
18 development of each product for pediatric use and
19 provide guidance to facilitate the formulation of
20 written requests for pediatric studies if
21 appropriate. The product under consideration for
22 the assessment is SNDX-5613, presentation by Syndax

1 Pharmaceuticals, Incorporated.

2 This is a particular matters meeting during
3 which specific matters related to SNDX-5613 will be
4 discussed. Based on the agenda for today's meeting
5 and all financial interests reported by the
6 committee members and temporary voting members,
7 conflict of interest waivers have been issued in
8 accordance with 18 U.S.C. Section 208(b)(3) to Drs.
9 Ira Dunkel and Theodore Laetsch.

10 Dr. Dunkel's waiver involves consulting
11 interest with three companies for which he receives
12 remuneration between \$0 and \$5,000 per year from
13 two companies and between \$10,001 and \$25,000 per
14 year from a third company.

15 Dr. Laetsch's waiver involves nine of his
16 employer's research contracts. The contracts are
17 for various studies funded by Onyx Pharmaceuticals,
18 Children's Hospital of Los Angeles, Novartis,
19 Janssen Research and Development, AbbVie, and a
20 potentially competing firm. In addition, his
21 employer is in negotiation for two research
22 contracts with potentially competing firms.

1 The waivers allow these individuals to
2 participate fully in today's deliberation. FDA's
3 reasons for issuing the waivers are described in
4 the waiver documents, which are posted on FDA's
5 website at [www.fda.gov/advisorycommittees/
6 committeesmeetingmaterials/drugs/default.htm](http://www.fda.gov/advisorycommittees/committeesmeetingmaterials/drugs/default.htm).
7 Copies of the waivers may also be obtained by
8 submitting a written request to the agency's
9 Freedom of Information Division at 5630 Fishers
10 Lane, Room 1035, Rockville, Maryland, 20857, or
11 requests may be sent via fax to 301-827-9267. To
12 ensure transparency, we encourage all standing
13 committee members and temporary voting members to
14 disclose any public statements that they may have
15 made concerning the product at issue.

16 With respect to FDA's invited industry
17 representative, we would like to disclose that
18 Dr. Jonathan Cheng is participating in this meeting
19 as a non-voting industry representative acting on
20 behalf of regulated industry. Dr. Cheng's role at
21 this meeting is to represent industry in general
22 and not any particular company. Dr. Cheng is

1 employed by Merck & Company.

2 We would like to remind members and
3 temporary voting members that if the discussion
4 involves any other products or firms not already on
5 the agenda for which an FDA participant has a
6 personal or imputed financial interest, the
7 participants need to exclude themselves from such
8 involvement, and their exclusion will be noted for
9 the record. FDA encourages all participants to
10 advise the subcommittee of any financial
11 relationships that they may have with the firm at
12 issue. Thank you.

13 DR. PAPPO: Thank you very much.

14 Both the Food and Drug Administration and
15 public believe in a transparent process for
16 information gathering and decision making. To
17 ensure such transparency at the advisory committee
18 meeting, the FDA believes that it is important to
19 understand the context of an individual's
20 presentation.

21 For this reason, the FDA encourages all
22 participants, including the applicant's

1 non-employee presenters, to advise the committee of
2 any financial relationships that they may have with
3 the firm at issue such as consulting fees, travel
4 expenses, honoraria, and interest in the applicant,
5 including equity interest and those based upon the
6 outcome of the meeting.

7 Likewise, FDA encourages you at the
8 beginning of your presentation to advise the
9 committee if you do not have any such financial
10 relationships. If you choose not to address this
11 issue of financial relationships at the beginning
12 of your presentation, it will not preclude you from
13 presenting.

14 We will now proceed with Syndax
15 Pharmaceuticals' presentation.

16 **Industry Presentation - Michael Meyers**

17 DR. MEYERS: Good afternoon. I'm Michael
18 Myers, chief medical officer at Syndax. We want to
19 thank Dr. Reaman, the FDA, and the ODAC for
20 inviting us to discuss the pediatric development of
21 SNDX-5613. Given the poor prognosis for children
22 with MLL-r leukemia, development of targeted agents

1 should be rapid and efficient as we endeavor to
2 provide durable benefits to this severely
3 underserved patient population.

4 At the ACCELERATE meeting in January,
5 regulators and the pediatric oncology community
6 shared our enthusiasm in developing SNDX-5613.
7 We've also had discussions with the FDA and the
8 EMA, and they are urging us to rapidly evaluate
9 this promising treatment in children.

10 SNDX-5613, or more simply 5613, is a
11 potent, highly selective, oral menin-MLL1 inhibitor
12 that has robust therapeutic potential for patients
13 with MLL-rearranged leukemias. Menin is a
14 chromatin associated scaffold protein that mediates
15 transcriptional regulation but has no known
16 enzymatic activity. Its MLL1 binding pockets,
17 highlighted here, is discrete and quite amenable to
18 small-molecule drug design, as you can see with one
19 of our inhibitors bound at this site.

20 5613 binds to menin with high affinity,
21 inhibiting binding of both MLL1 fusion and
22 wild-type MLL1 proteins. It displays

1 antiproliferative activity across a range of cells
2 harboring different MLL-r fusions.

3 Today I will discuss the urgent unmet need
4 for new therapeutic approaches for children with
5 MLL-r leukemias, then I will describe the
6 compelling rationale for developing 5613 and share
7 supporting nonclinical and early clinical data, and
8 most importantly, I'll present our perspective on
9 the pediatric clinical development program.

10 Rearrangement of the MLL gene is the
11 transforming event, an oncogenic driver in 5 to 10
12 percent of acute leukemias in both adults and
13 children. In infant ALL, it's especially
14 prevalent, appearing in up to 70 percent of cases.
15 MLL-r leukemia is aggressive, resistant to therapy,
16 and has a high frequency of early relapse. MLL
17 rearrangement is a powerful negative prognostic
18 factor with dismal five-year, event-free, and
19 overall survival compared to unselected patients,
20 therefore, new therapeutic approaches are needed
21 urgently.

22 Now, let's turn to our justification

1 for the development of menin inhibitors,
2 specifically 5613. Spontaneous translocations
3 involving the MLL1 locus create oncogenic MLL
4 fusion proteins. These fusion proteins bind to
5 menin, resulting in transcriptional dysregulation
6 of target genes such as HOX-A and NIS1. This in
7 turn leads to the initiation and maintenance of the
8 leukemic state.

9 Shown here, menin inhibitors specifically
10 block the Binding of MLL fusion proteins to menin,
11 causing its dissociation from chromatin and
12 downregulation of expression of the critical target
13 genes. This leads to terminal differentiation of
14 leukemic cells and cell death.

15 In vitro and in vivo data demonstrate the
16 therapeutic potential of agents that inhibit the
17 interaction between MLL1 and menin. Using a
18 prototypic inhibitor, we showed that single-agent
19 menin inhibition produces deep and durable
20 responses and profound survival benefit in
21 patient-derived xenograft models of MLL-r pediatric
22 ALLs.

1 Among 8 MLL-r PDXs covering 5 different
2 fusions, 7 had a highly significant survival
3 benefit after only 28 days of treatment. The
4 vehicle control's not shown here. A T1011 MLL-r
5 PDX and the negative control PDX that did not
6 contain an MLL rearrangement did not respond. Two
7 models had profound responses with many mice
8 surviving beyond one year. In these animals, no
9 human CD45-positive cells could be found in any
10 compartment at sacrifice.

11 5613, our clinical candidate, has a Ki of
12 approximately 149 picomolar. It has potent
13 antiproliferative activity against multiple cell
14 lines with an IC50 of approximately 10 to
15 20 nanomolar and a plasma IC50 of approximately
16 50 nanomolar. Like many drugs, 5613 is metabolized
17 by CYP3A4, but it does not inhibit or induce
18 cytochrome P450 enzymes. The hERG IC50 is in the 5
19 to 15 micromolar range, so close cardiac monitoring
20 is included in our phase 1 trials.

21 To investigate the potential less activity
22 of 5613, we used the disseminated MOLM-13 xenograft

1 model. This is a very aggressive MLL-r model that
2 may serve as an analog of the difficult-to-treat
3 leukemia. Here, engrafted mice were randomized to
4 receive either control chow or chow containing
5 multiple dose strengths of 5613 for 28 days. All
6 doses of 5613 showed significant survival benefit
7 versus controls, but there was no discrimination
8 among the three highest dose groups.

9 Analysis of human CD45-positive burden on
10 day 33 revealed a more defined dose-response
11 relationship. Only the two highest doses
12 demonstrated marked reductions in CD45-positive
13 cells and there was no difference between these two
14 doses suggesting a maximal response.

15 To predict the plasma exposures likely to
16 be needed for leukemic control in humans, we
17 examined 5613 steady-state levels over 24 hours in
18 mice. The graph on the right shows that only the
19 two doses that achieved marked decreases in human
20 CD45-positive cells were associated with plasma
21 concentrations of 5613 that remained above the
22 projected IC95 for most of the 24-hour period. The

1 drug exposures achieved for the lower of these two
2 doses have been adopted as PK targets in our adult
3 phase 1 trial.

4 Twenty-eight day oral toxicology studies
5 were conducted in rats and dogs. Target organs
6 identified were the heart with ECG changes in dog;
7 eyes with cataracts in rats; bone marrow; liver;
8 lymphoid tissues; and endocrine and reproductive
9 system. The dose-limiting toxicity was weight
10 loss/appetite suppression observed in the dog, and
11 this was used to establish the highest non-severely
12 toxic dose for calculating the starting dose for
13 our first-in-human trial.

14 AUGMENT-101 is our ongoing phase 1/2 trial
15 designed to identify maximum tolerated doses and
16 recommended phase 2 doses of 5613 in adults with
17 relapsed or refractory acute leukemia, and is
18 agnostic of genomic abnormality. Because 5613 is
19 metabolized by CYP3A4, and patients on potent
20 CYP3A4 inhibitors may have increased exposures, the
21 study has two arms. Arm A includes patients not on
22 the strong CYP3A4 inhibitor and arm B includes

1 patients who are on the strong CYP3A4 inhibitor.

2 The phase 2 expansion will explore the
3 safety and efficacy of 5613 dosed at RP2D in three
4 cohorts, MLL-r ALL, MLL1 AML, and NPM1c AML.

5 Five of six patients reported at least one
6 treatment-related adverse event. Consistent with
7 the nonclinical findings, grade 1 or 2 QTc
8 prolongations were observed but were asymptomatic
9 and resolved spontaneously or following dose
10 interruption or reduction. None of the
11 treatment-related events were greater than or equal
12 to grade 3 or assessed as serious.

13 Here is a summary of the patients treated
14 on arm B, those on a strong CYP3A4 inhibitor. A 69
15 year old had an MLL rearrangement. She was dosed
16 at 226 milligrams q12 hours and achieved drug
17 exposures predicted to be necessary for clinical
18 activity. Indeed, she achieved the CRi by day 28,
19 which subsequently improved to a CR.

20 Here, we also see patients treated on
21 arm A. The 32 year old had an MLL rearrangement
22 but did not achieve the target plasma exposures.

1 As expected, this patient's disease did not respond
2 to therapy.

3 As of April 30th, 6 children with MLL-r
4 leukemias between 17 months and 10 years old have
5 been treated under compassionate-use protocols.
6 None were receiving CYP3A4 inhibitors. The
7 children received a range of doses up to the
8 equivalent of adult dose level 3. Notably, four of
9 the children required interruptions of 5613 to
10 receive aggressive cytotoxic chemotherapy to
11 control rapidly progressive disease.

12 Given the nature of compassionate use,
13 limited safety and PK data are available. All the
14 children have tolerated therapy, two had
15 treatment-related grade 3 adverse events of nausea
16 and vomiting that responded to standard
17 antiemetics, and no child experienced a QTc
18 prolongation. As of the cutoff date, no responses
19 have been noted but no child had exhibited
20 sustained PK exposures predicted as necessary for
21 efficacy.

22 Based on AUCs adjusted for dose and body

1 surface area, the children treated in the
2 compassionate-use program and the adult patients in
3 arm A appeared to have similar exposures to 5613.
4 We believe that safety data will also be comparable
5 at equivalent exposures, and we propose starting
6 the phase 1 pediatric trial at the highest dose
7 level with an acceptable safety profile in our
8 phase 1 adult trial or in the compassionate-use
9 pediatric patients.

10 We have seen in the phase 1 trial that
11 adequate exposures can be achieved in adults, and
12 we believe that with continued dose escalation and
13 prolonged dosing, we can achieve and sustain the
14 target exposures likely to result in clinical
15 benefit in children.

16 Finally, I'd like to discuss our pediatric
17 development program and present our position that,
18 based on the data we've presented today, clinical
19 development of 5613 in pediatric MLL-r leukemias
20 should be responsibly accelerated so that children
21 with this rare disease can have access to this
22 promising drug in a clinical trial.

1 It's clear from conversations with leading
2 pediatric oncologists and our experience in the
3 compassionate-use program that we should focus our
4 development of 5613 in combination with established
5 chemotherapy backbones. Because of the aggressive
6 nature of the disease, a clinical trial should
7 allow for investigation of targeted drugs such as
8 5613 as monotherapy and also in combination with
9 chemotherapy regimens that have been the backbone
10 of treatment for pediatric acute leukemias.

11 Because it is unlikely that 5613 will
12 initially replace chemotherapy, our plan in phase 2
13 is to treat children by adding 5613 to
14 well-established chemotherapy regimens. We're
15 still in the early stages of planning our phase 2
16 trial but recognize the importance of a trial
17 design that isolates the effects of 5613 versus
18 chemotherapy. An example of such a trial is shown
19 here on the bottom.

20 We also recognize that infant ALL may
21 require a different study design. Our intention is
22 to collaborate with the FDA and COG to align on the

1 phase 2 strategy. Thus, our phase 1 study was
2 designed to investigate the PK, safety, MTD, and
3 RP2D of 5613 in children both as monotherapy and in
4 combination with chemotherapy. We believe that our
5 proposed trial design will efficiently provide this
6 information.

7 Our phase 1 trial will enroll children who
8 have refractory or relapsed acute leukemia with
9 MLL-r or NPM1c mutations. The definitions of
10 refractory and relapsed leukemia are specific for
11 myeloid versus lymphoid disease and are consistent
12 with COG guidance. Children from 1 month to 21
13 years of age will be included. These children will
14 have no available effective therapeutic options.

15 Children will take 5613 orally every
16 12 hours as monotherapy for up to 84 days. Prior
17 to enrollment and during treatment, use of typical
18 cytoreduction regimens to control white blood cell
19 counts is allowed.

20 Dose-limiting toxicity will be assessed
21 over the first 28 days of monotherapy. Children
22 will be assigned sequentially to escalating dose

1 levels, and dose escalation decisions will be based
2 on the modified continual reassessment model with
3 two patients per cohort to expose fewer children to
4 subtherapeutic doses and to collect more data
5 around the recommended phase 2 dose.

6 However, if children have rapid progression
7 after at least 7 days of monotherapy, they may
8 transition to combination therapy with 5613 and
9 predefined standard salvage chemotherapy. The
10 purpose of the chemotherapy is not to achieve
11 remission but rather to provide more aggressive
12 control of leukemic burden while allowing continued
13 dosing of 5613. If children transition to
14 combination therapy prior to day 28, a new DLC
15 assessment window will begin and will last 28 days.

16 Initially, there will be no dose
17 adjustments when transitioning to combination
18 therapy, however, based on the observed toxicities
19 during the combination regimen, dose escalations in
20 the monotherapy regimen and the combination regimen
21 may proceed independently. If patients achieve a
22 CR during the first cycle of chemotherapy plus

1 5613, they will then receive 5613 as monotherapy to
2 complete a total of 84 days of treatment;
3 otherwise, they'll receive a second cycle of
4 chemotherapy plus 5613, and then return to
5 monotherapy to complete the treatment period.

6 Children may continue 5613 until
7 progression of disease with unacceptable toxicity.
8 The study design may allow us to identify different
9 MTDs and RP2Ds for the monotherapy and combination
10 regimens and provides children with the best
11 opportunity for clinical benefit.

12 For 5613 monotherapy, DLTs will include
13 events not clearly related to the underlying
14 disease. Specifically, any such grade 3 or greater
15 non-hematologic toxicity, or any non-hematologic
16 toxicity that results either in discontinuation or
17 interruption for more than 7 days, or in
18 administration of less than 75 percent of the plan
19 dose intensity during the first cycle, will be
20 considered a DLT. Grade 4 neutropenia or
21 thrombocytopenia that persists in the absence of
22 residual leukemia will also be considered a DLT.

1 To identify a dose of 5613 that can be
2 combined safely with toxic chemotherapy, we're
3 proposing a definition of dose-limiting toxicity
4 based on the concept of functional DLT criteria.
5 This approach has been used in several COG studies
6 in which targeted agents have been combined with
7 backbone chemotherapy.

8 Specifically, the following events will be
9 defined as DLT events, defined as DLTs that are
10 attributed to 5613 alone: most grade 4
11 non-hematologic events, selected grade 4 or grade 3
12 non-hematologic toxicities, and grade 4 hematologic
13 toxicities that are commonly observed with
14 chemotherapy but if, and only if, they delay
15 administration of the next cycle of therapy for at
16 least 14 days.

17 In summary, given the poor prognosis and
18 limited treatment options for children with MLL-r
19 leukemias, development of new targeted agents
20 should be rapid and efficient to serve the needs of
21 these woefully underserved children. SNDX-5613 has
22 robust therapeutic potential for children with

1 genetically-defined acute leukemias. As such, the
2 potential benefits of immediately beginning a
3 phase 1 trial that incorporates a combination with
4 chemotherapy as a therapeutic option far outweighs
5 the risks.

6 Thank you. We're happy to take your
7 questions and value your feedback.

8 **Clarifying Questions from Subcommittee**

9 DR. PAPP0: Thank you very much for your
10 presentation.

11 We will now take clarifying questions for
12 Syntax Pharmaceuticals. Please use the raised-hand
13 icon to indicate that you have a question. Please
14 remember to put your hand down after you have asked
15 your question. Please remember to state your name
16 for the record before you speak.

17 It would be helpful to acknowledge the end
18 of your question with a thank you and the end of
19 your follow-up question with "that is all of my
20 questions" so we can move on to the next panel
21 member. I will limit the number of questions to a
22 10-minute window since we are behind, so we will

1 start with questions.

2 I see Andy Kolb.

3 DR. KOLB: Thank you, Alberto, and thank
4 you, Mike, and to Syndax. I think your urgency in
5 testing in kids is to be applauded, as well as your
6 compassionate-use program, so thank you for that
7 and for the presentation.

8 I have several questions, but I think to
9 start, in phase 1 design, I think your experience
10 with the pediatric patients that were tested in
11 compassionate use is consistent with what we would
12 expect with a KMT2A mutated AML, and that is that
13 the disease will be rapidly progressive; and
14 single-agent, differentiation agent, it may be
15 difficult to complete a 28-day course.

16 I'm wondering if in that monotherapy
17 design, the requirement for a 28-day evaluation
18 period I think is going to be difficult and how you
19 plan to address that if you see a lot of kids going
20 off onto the combination.

21 Then I'd also be curious to know -- I know
22 you're pursuing NPM1c mutations in adults. That's

1 about 9 percent of pediatric AML, but they tend to
2 do well, whereas we have NUP98 fusions, which are
3 about 2 percent of pediatric AML, and they do
4 poorly. So you may see as many relapsed NUP
5 fusions as you see NPM1c in pediatrics, and if you
6 have any interest in looking at other fusions like
7 NUP98 that activate HOX proteins.

8 Thank you. That's the end of my question.

9 DR. MEYERS: Dr. Kolb, thank you for your
10 comments and your questions. We recognize that it
11 is distinctly possible that children will progress
12 rapidly, and that is why we believe that the
13 ability to transition them to chemotherapy is in
14 fact the reasonable option.

15 However, we believe that, based on our
16 preclinical data and are emerging clinical data,
17 there is also a distinct possibility that children
18 may in fact show single-agent activity early on; if
19 not responses, at least control of disease that
20 would allow them to stay on treatment for the
21 28-day period.

22 Obviously, if they don't, and they

1 transition to chemotherapy, we will be studying the
2 safety of 5613 in chemotherapy, and we would
3 propose to impute any child who gets through 28
4 days of combination chemotherapy without a DLT as
5 being indicative of the fact that they would have
6 gotten through the 28-day period of monotherapy
7 without a DLT as well.

8 What I would add to the second question of
9 NUP98 is we have heard of preclinical results
10 indicating that there may well be activity in
11 NUP98, which is a nuclear-pore protein, and we are
12 in fact examining those further and would consider
13 adding them into our phase 1 or phase 2 pediatric
14 trial at a later time.

15 DR. KOLB: Thank you.

16 DR. PAPPO: Elizabeth, you're next.

17 DR. RAETZ: This is Elizabeth Raetz from
18 NYU. Thank you, Dr. Meyers, for your excellent
19 presentation, and I echo many of Andy's sentiments
20 as well. I had some additional questions just in
21 terms of your proposed pediatric trial as well.

22 I just was wondering, in terms of what you

1 proposed for the chemotherapy combinations, if
2 there were preclinical data to specifically inform
3 the recommendations for those specific agents.
4 That was one question.

5 In your plan trial design, you mentioned
6 that you may have to consider the infant population
7 separately because of unique issues with dosing and
8 toxicities, et cetera, so I didn't know if it was
9 envisioned if infants would be a part of the trial.

10 A third question is, in terms of your plans
11 for the DLT evaluation, I was wondering if the ALL
12 patients and AML patients would be evaluated
13 separately or the same for toxicities, as they may
14 differ in their disease states coming in and may
15 potentially receive different combinations.

16 Then finally a fourth question, and I
17 apologize if I missed it, will PK data that you
18 obtained over the course of the trial be used in a
19 real-time way to optimize the dosing? Thank you.

20 DR. MEYERS: The chemotherapy regimens that
21 we propose to use are typical salvage regimens for
22 AML and ALL. There were two specified regimens.

1 One would be 2 cycles of FLA in patients with AML
2 or selected patients with ALL -- or a typical
3 4-drug regimen such as I believe was used in block
4 1 of your trial published in 2008 in patients with
5 ALL -- would also have an option to receive
6 2 cycles of FLAG, of FLA.

7 In terms of preclinical data, we are
8 currently generating those data with typical chemo
9 combinations, as well as with other agents such as
10 venetoclax, CLT3 inhibitors, and hypomethylating
11 agents. We don't have them yet, but we don't
12 actually believe that they are gating to beginning
13 our phase 1 trial because the positive predictive
14 value of those preclinical studies is fundamentally
15 compelling.

16 In terms of infants, we will be including
17 infants in our phase 1 trial. As I said, we would
18 be including children as young as 1 month of age up
19 to 21 years of age. My comment about needing to
20 treat the infant separately was based on basically
21 the phase 2 trial.

22 The DLT criteria will not differ between

1 ALL and AML. What we are seeking to do is simply
2 subtract out the specific toxicities that are
3 common to whatever chemotherapy regimen the child
4 may be receiving in determining what our DLTs are,
5 but we would prefer to have a single maximum
6 tolerated dose or RP2D for 5613 in combination with
7 any number of chemotherapies.

8 We realize that we may actually
9 underestimate the maximum tolerated dose, and we do
10 certainly think that in the future we could
11 actually use PK adjustments to better refine the
12 dosing that patients would receive in phase 2
13 trials with the potential for inpatient dose
14 escalations in phase 2.

15 I think that answered all your questions I
16 hope.

17 DR. RAETZ: Yes. Thank you so much.

18 DR. PAPPO: Julia?

19 DR. GLADE BENDER: Sorry. This is Julia
20 Glade Bender from Memorial Sloan Kettering. I was
21 going to ask a question along the lines of
22 Elizabeth Raetz, and ask about inpatient dose

1 escalation; because I think that the dose-response
2 data that was presented is very compelling, and I
3 wondered truly if during the single-agent phase, if
4 a patient were not rapidly progressing, whether
5 they would be able to inpatient dose escalate
6 and continue on the single drug therapy.

7 DR. MEYERS: Yes, we're definitely
8 considering the possibility to interrupt patient
9 dose escalation, but only after a patient satisfies
10 the DLT window, since if we escalated within the
11 first 28 days and the patient experienced a DLT, it
12 would be difficult to determine which dose was
13 responsible for that DLT. But this is certainly
14 something that we are considering and proposing.

15 It's actually an element of our phase 1
16 adult trial, and we have also discussed the
17 possibility of doing inpatient escalations based
18 upon PK, although that's not widely accepted by
19 some of the institutions at which we will be
20 conducting our phase 1 trial.

21 DR. PAPPO: Okay. Steve?

22 DR. DuBOIS: Steve Dubois, Dana-Farber,

1 Boston Children's; two questions. One, for adults
2 with QT prolongation, were there confounding
3 factors such as other agents that prolonged the QT
4 interval in those patients?

5 The second question, it's fantastic that
6 you've already developed a liquid formulation of
7 the agent for a disease where your target of
8 interest is enriched in infants, so that's
9 fantastic. I'm wondering what type of data you
10 have supporting the equivalence of that liquid
11 formulation compared to the pill formulation.

12 DR. MEYERS: So let me take the second
13 question first if I might, and that is that we've
14 treated children thus far in our compassionate use
15 with an extemporaneous formulation, which is an
16 aqueous formulation of API dissolved in water and
17 delivered through a syringe. We've shown
18 compatibility in NG-tubes and G-tubes.

19 The API in 5613 in fact is rapidly soluble
20 in a variety of aqueous solutions with a variety of
21 pHs, especially in gastric pH, and therefore we do
22 not perceive that there will be a problem with

1 equivalency between the capsules. The
2 extemporaneous oral solution that we're currently
3 using and the pediatric formulation, which is also
4 an oral solution, that is well underway and will be
5 available by the time we initiate our phase 1 trial
6 in pediatrics.

7 The second question about QT prolongations,
8 what we've observed thus far is that QT
9 prolongations are related probably to Cmax. They
10 appear very early in the treatment period, often
11 within hours of the initial dose. We have looked
12 at things such as concomitant medications, comorbid
13 medical conditions, and electrolyte levels.
14 Remember, this is a very small number of patients
15 to date, and therefore those associations have not
16 borne fruit, however, we will continue to look as
17 we accumulate more data.

18 We are very aggressive in monitoring QT
19 prolongations, and we also have a very aggressive
20 and conservative dose interruption and reduction
21 strategy for managing these both in adults and in
22 children.

1 DR. DuBOIS: Thank you; no further
2 questions. Thanks, Alberto.

3 **Open Public Hearing**

4 DR. PAPPO: Thank you.

5 I'm sorry, but we're going to have to stop
6 the questions right now for the sponsor since we
7 are a little bit behind schedule. We will now
8 proceed to the OPH session.

9 Both the Food and Drug Administration and
10 the public believe in a transparent process for
11 information gathering and decision making. To
12 ensure such transparency at the open public hearing
13 session of the advisory committee meeting, the FDA
14 believes that it is important to understand the
15 context of an individual's presentation.

16 For this reason, the FDA encourages you,
17 the open public hearing speaker, at the beginning
18 of your written or oral statement to advise the
19 committee of any financial relationships that you
20 may have related to the topics of this meeting.
21 Likewise, the FDA encourages you at the beginning
22 of your statement to advise the committee if you do

1 not have any such financial relationships. If you
2 choose not to address this issue of financial
3 relationships at the beginning of your statement,
4 it will not be preclude you from speaking.

5 The FDA and this committee place great
6 importance on the open public hearing process. The
7 insights and comments provided can help the agency
8 and this committee in their consideration of the
9 issues before them. That said, in many instances
10 and for many topics, there will be a variety of
11 opinions.

12 One of our goals today is for the open
13 public hearing to be conducted in a fair and open
14 way where every participant is listened to
15 carefully and treated with dignity, courtesy, and
16 respect, therefore, please speak only when
17 recognized by the chairperson. Thank you for your
18 cooperation.

19 Speaker number 1, your audio is connected
20 now. Will speaker number 1 begin an introduce
21 yourself? Please state your name and any
22 organization you are representing for the record.

1 DR. GORE: Thank you, Dr. Pappo.

2 Good afternoon, committee members. My name
3 is Lia Gore, and I am a pediatric oncologist at
4 Children's Hospital Colorado. I also serve as the
5 co-director of the Developmental Therapeutics
6 Program at the University of Colorado's
7 NCI-Designated Comprehensive Cancer Center; and
8 earlier this year, I accepted the role as
9 group-wide vice chair for the Children's Oncology
10 Group.

11 The primary focus of my career has been in
12 pediatric oncology drug development and phase 1
13 clinical trials, and I've been involved in the
14 conception, design, and operations of phase 1
15 clinical trials in both pediatric and adult
16 oncology for essentially my entire career.

17 I've served as an advisor to this
18 committee, and I want to confirm that I have no
19 financial relationships with Syndax Pharmaceuticals
20 but did serve as the PI of a clinical trial of
21 another one of their drugs in adult cancer patients
22 more than 10 years ago. I'm here today of my own

1 accord as a pediatric oncologist with the resume as
2 I've noted, and it's an honor to speak with you.

3 While the overwhelming success story in
4 childhood cancer is really one of the outstanding
5 cure rates seen in the large majority of children
6 who have acute lymphoblastic leukemia or ALL, a
7 subset of our patients still have a very poor
8 prognosis, and many of the advances that we've
9 observed in recent years have not translated to the
10 clinical benefit for some of our most vulnerable
11 patients.

12 In this group are our infants whose
13 leukemias are often driven by the abnormal gene
14 product involving the mixed-lineage leukemia gene,
15 or MLL, which has now been renamed to KMT2A. The
16 oncologists on this committee are well aware that
17 KMT2A-driven leukemias, especially those in babies,
18 are highly aggressive and highly therapy resistant.
19 It's possible for patients with KMT2A
20 rearrangements to go into remission, but they often
21 relapse very quickly; and once that happens, their
22 life expectancy is usually measured in days or

1 weeks.

2 KMT2A rearranged leukemias also have a
3 unique plasticity that means they can morph between
4 lymphoid and myeloid characteristics as the name
5 MLL would suggest, but it means that the use of
6 antigen-directed and other targeted therapies often
7 do not provide the clinical benefit or lead to
8 durable response that we see with other patients.

9 In brief, the therapeutic regimens we've
10 used to date are essentially ineffective and have
11 cumulative toxicities and are particularly
12 devastating for very young babies who might
13 survive. The combination of highly toxic and
14 suboptimal effective therapies for infants with
15 leukemia and for KMT2A rearranged leukemias overall
16 is one of the most frustrating areas that pediatric
17 oncologists deal with. Current chemotherapy
18 strategies simply don't work for most of these
19 patients, and even when they do, they impart
20 significant life-threatening and even fatal side
21 effects.

22 KMT2A rearranged leukemias are

1 exceptionally rare overall in incidence, which
2 makes them very hard to study. Relevant to this
3 discussion and patient population, I was the senior
4 investigator on a phase 1 clinical trial with a
5 single agent in KMT2A rearranged leukemias, which
6 enrolled patients in select centers across the U.S.
7 We learned a lot during that, and after the trial,
8 about studying single agents in children with other
9 highly refractory leukemias that we should consider
10 as we think about the development of SNDX-5613,
11 both what we should do and what we should not do in
12 conducting these trials.

13 We need to be able to learn from studies
14 conducted in adults to ensure that our clinical
15 trials for children are safe and efficient. Given
16 the rarity of this patient population, we must
17 commit to constructing carefully designed clinical
18 trials that maximize safety of patients while
19 offering the best chance to be treated at a dose
20 and schedule that could offer potential benefit and
21 efficacy.

22 KMT2A rearranged leukemia, and in the past

1 40 years, we have made no significant progress. A
2 menin inhibitor is what many pediatric oncologists
3 have been waiting for based on the mechanism of
4 action, and I feel it's critical to test this in
5 children due to the unique biologic inhibition of
6 KMT2A by this agent, as SNDX-5613 has the potential
7 to fill a deep and significant void in our current
8 treatment options for patients with KMT2A driven
9 disease. Early adult data would suggest the
10 potential for clinical activity, and every day we
11 see children in our clinics who have no options for
12 a realistic chance of survival.

13 We've learned over the last 15 years or so
14 that many, if not most, biologically targeted
15 agents that are used in adults with cancer have
16 very similar dosing and toxicity profiles in
17 children, and that often children can even have the
18 same or fewer side effects compared to adults who
19 are treated at the same doses and schedules.

20 I would very respectfully urge you to
21 consider an efficient clinical development strategy
22 of SNDX-5613 for children with KMT2A rearranged

1 leukemia in a way that allows the pediatric
2 oncology community to explore this agent as quickly
3 and is safe and reasonable on behalf of our most
4 vulnerable patients, their families, and the
5 oncology and scientific communities at large.

6 The patients we care for cannot wait for a
7 conservative or a slow approach. Indeed, the past
8 40 years and more have proven this, and we need to
9 do better. Thank you for listening to my comments.

10 DR. PAPPO: Thank you very much.

11 Speaker number 2, your audio is connected
12 now. Will speaker number 2 begin on introduce
13 yourself? Please state your name and any
14 organization you are representing for the record.

15 DR. STEIN: Thank you very much. My name
16 is Eytan Stein, and I am an attending physician on
17 the adult leukemia service at Memorial Sloan
18 Kettering Cancer Center. I also direct the program
19 for Drug Development in Leukemia at Memorial Sloan
20 Kettering, which is a dedicated phase 1 program for
21 adult patients with leukemias and related diseases.
22 I've previously participated in a paid advisory

1 board for Syndax, but I'm not being compensated for
2 my appearance here today.

3 I've chosen to make comments to the
4 committee because of my enthusiasm for menin as a
5 therapeutic target in patients with acute leukemias
6 with MLL rearrangements and because of my strong
7 belief in the promise of differentiation therapy.
8 I have a long-standing interest in MLL-rearranged
9 leukemias, in part because of my work at Memorial
10 Sloan Kettering where an unfortunate minority of
11 our adult solid-tumor patients who received
12 cytotoxic chemotherapy go on to develop
13 therapy-related AML with MLL rearrangements.

14 In this regard, I led the phase 1
15 first-in-man study of the DOT1L inhibitor
16 pinometostat in patients with AML or ALL, whose
17 results were published in Blood in 2017. While we
18 saw some interesting responses in patients using
19 this agent, including clearance of extramedullary
20 disease and one cytogenetic remission, too few
21 patients responded to continue further development
22 as a single agent in MLL-rearranged leukemias.

1 Newer therapies are crucial to eradicate this
2 particular subset of acute leukemia.

3 My interest in menin as a therapeutic
4 target began with interactions I had with Dr. Scott
5 Armstrong when he worked as the director of the
6 Center for Epigenetics at Memorial Sloan Kettering.
7 The preclinical data his group generated in cell
8 lines and patient-derived xenografts, and published
9 in Cancer Cell in 2019, demonstrated the
10 feasibility and efficacy of pharmacologic
11 inhibition of menin.

12 Inasmuch as preclinical science anticipates
13 true clinical benefit, the data generated in that
14 paper is more impressive than most, and because of
15 this and other data published in the literature,
16 our group actively sought out clinical grade
17 compounds and companies to work with on the
18 clinical development of menin inhibitors.

19 My clinical experience with menin
20 inhibitors comes from treating adult patients with
21 SNDX-5613 on the phase 1 study of patients with
22 relapsed and refractory acute leukemias. Data

1 presented at the AACR meeting in 2020 showed an
2 impressive response to single-agent therapy in a
3 patient with relapsed and refractory leukemia, and
4 while anecdotes are not data, I do want to share a
5 personal story about a patient I'm currently
6 treating on study.

7 This is a 58-year-old woman with
8 therapy-related AML with a 911 translocation. She
9 received induction chemotherapy, achieved a
10 complete remission, and went on to receive an
11 allogeneic bone marrow transplant from a
12 haploidentical donor. She unfortunately relapsed
13 within 3 months of her allograft, failed further
14 salvage chemotherapy, and was referred to Memorial
15 Sloan Kettering for consideration of participating
16 in the study with SNDX-5613.

17 When I examined her at the initial visit,
18 she had the most severe leukemic infiltration into
19 her gingiva, leading to gingival hyperplasia that I
20 have ever seen. Her teeth were nearly completely
21 covered by her gums, to the point that her diet was
22 limited to liquids and pureed food. In addition,

1 she was being maintained on high doses of hydrea
2 for control of leukocytosis driven by a pronounced
3 increase in absolute peripheral blasts.

4 When I saw her for her cycle 1 day 22 visit
5 last week, she expressed to me how her swollen gums
6 had melted away. Her absolute neutrophil count,
7 undetectable when I first met her, had risen 4.8,
8 and her peripheral blasts, hovering around
9 75 percent of presentation, had cleared from her
10 blood. She is able to eat, feels well, and cannot
11 stop thanking the research staff at Sloan Kettering
12 for giving her the opportunity to enroll in this
13 study.

14 In my 10-year career of treating adult
15 patients with acute leukemia, I've been fortunate
16 to be involved and lead phase 1 clinical studies
17 for patients with AML, where the compound under
18 study has subsequently been FDA approved for a
19 particular subset of AML. Most clinical trialists
20 have a sense early on in the clinical trial when
21 they are dealing with a winning drug and a winning
22 strategy, one like ATRA for APL or IDH and FLT3

1 inhibitors for non-APL/AML.

2 The preclinical data, early clinical data,
3 and my personal experience as part of the SNDX-5613
4 study suggests to me that menin inhibition is
5 likely to profoundly change the poor outcome for
6 patients with MLL-rearranged leukemia. Although
7 I'm not a pediatric oncologist, as an adult
8 oncologist and a parent, I strongly advocate for
9 pediatric patients with MLL-rearranged leukemias to
10 have early access to menin inhibitors as part of a
11 well-designed clinical trial. Thank you for taking
12 the time to listen to my comments.

13 DR. PAPP0: Thank you very much.

14 Speaker number 3, your audio is connected
15 now. Will speaker number 3 begin and introduce
16 yourself? Please state your name and any
17 organization you are representing for the record.

18 DR. BROWN: Sure. Hi. My name is Pat
19 Brown. I'm a pediatric oncologist at Johns Hopkins
20 in Baltimore. For the last 15 years or so, I've
21 had led the clinical trial portfolio for infant ALL
22 the Children's Oncology Group, and I'm also a

1 physician scientist with a research lab studying
2 the biology of infant leukemia. So I've seen
3 firsthand how the current treatment of babies with
4 leukemia works or more commonly doesn't work.

5 Infant leukemia fortunately is rare with
6 about 150 to 200 new cases per year in the U.S.,
7 and about two-thirds of these are BNH [ph] ALL and
8 about a third are AML. As you've heard, most
9 infant leukemias carry genomic rearrangements
10 involving the MLL gene, the chromosome 11q23, and
11 these cases have the worst prognosis of any
12 childhood ALL subset, with a survival of 35 to
13 40 percent and a median survival of just over one
14 year.

15 As survival for virtually every other
16 subset of childhood, acute leukemia has steadily
17 increased over the decades, including pH-positive
18 ALL with the addition of TKIs, the dismal survival
19 for infants has not budged. We've pushed
20 chemotherapy intensity as far as it can go and stem
21 cell transplant has not improved outcomes.

22 You heard that MLL leukemias are driven by

1 fusion of the normal MLL gene to one of many
2 partner genes. MLL fusions are among the most
3 potent oncogenes in cancer; hence, the extremely
4 short latency between the acquisition of diffusion
5 in blood precursors and the development of
6 full-blown aggressive acute leukemia only weeks to
7 months later.

8 One of the critical co-factors for MLL is
9 menin. The physical interaction of menin and MLL
10 is absolutely required for MLL fusions to drive
11 leukemia in mouse models. Small molecules like
12 SNDX-5613 that disrupt the MLL menin interaction
13 have been discovered, and you've heard about the
14 promising preclinical activity, adult phase 1
15 experience, and pediatric compassionate-use
16 experience.

17 Infant ALL is a glaring unmet clinical
18 need. Of the investigational approaches available,
19 SNDX-5613 is the one about which we in the infant
20 leukemia field are most excited. While
21 immunotherapy that targets B-cell antigens like
22 CAR-T cells, bites, and antibody drug conjugates

1 are great options for older children and young
2 adults, unfortunately, infants are less likely to
3 benefit.

4 Infant ALL cells express CD19 and CD22
5 antigens at lower levels; sometimes not at all, and
6 with treatment, these leukemias often undergo
7 lineage switching from lymphoid to myeloid and lose
8 expression entirely. In addition, failure to
9 manufacture a CAR-T cell product is common when it
10 is attempted in infants. The trials that led to
11 FDA approval for CAR-T cells only included patients
12 3 years of age and older.

13 Our two recent attempts to improve outcomes
14 for infant ALL with targeted small-molecule
15 approaches, mainly FLT3 inhibitors and DOT1L
16 inhibitors, did not succeed, which was
17 heartbreaking. We know now that this failure was
18 primarily due to pharmacological mutations and
19 inability for these drugs to potently inhibit the
20 target in patients. We're very hopeful that's
21 SNDX-5613 can overcome these limitations based on
22 its superior pharmacologic properties both in

1 preclinical models and in the preliminary clinical
2 experience in adults.

3 One final point, it will be exceedingly
4 important to study SNDX-5613 in combination with
5 chemotherapy in early-phase studies for two
6 reasons. First, at the time of developing
7 refractory disease or relapse, these leukemias are
8 strikingly aggressive and proliferative. Second,
9 since SNDX-5613 works to regulate gene
10 transcription and will likely take time, perhaps 2
11 or 3 weeks, to demonstrate its maximal entire
12 leukemic effect, I strongly urge regulators to set
13 a low bar for allowing us to treat babies with
14 combinations of chemotherapy and SNDX-5613 in
15 infants.

16 Single-agent safety and biologic/clinical
17 activity in adults should be sufficient. The CAG
18 ALL committee is developing a trial concept of this
19 strategy currently. There is precedent for this.
20 The very first clinical experience with FLT3
21 inhibitors and infants was in combination with
22 chemotherapy for newly diagnosed disease in the CAG

1 ALL EURO-631 study.

2 In summary, I enthusiastically support
3 aggressive pediatric clinical development of
4 SNDX-5613, and in particular for infant ALL, which
5 is uniquely dependent on the MLL menin interaction
6 and continues to have a dismal prognosis with no
7 advances in decades. Thank you.

8 **Questions to Subcommittee and Discussion**

9 DR. PAPP0: Thank you very much.

10 We will now proceed with a charge and
11 questions to the subcommittee and panel
12 discussions. After each question is read, we will
13 pause for any questions or comments concerning its
14 wording, then we will open the question to
15 discussion. We will start with the first question
16 from the FDA.

17 (Pause.)

18 DR. PAPP0: Would you like to read the
19 question?

20 DR. REAMAN: This is Greg Reaman. I'll
21 read these questions. The first discussion item
22 for the committee is to consider the adequacy of

1 evidence of activity and lack of serious acute
2 toxicity given the timeline of development of
3 SNDX-5613 in adults to date to support the
4 development of this product in children.

5 DR. PAPPO: If there are no questions or
6 comments concerning the wording of the question, we
7 will now open the question for discussion.

8 I don't see a lot of hands. Andy?

9 DR. KOLB: Thank you, Alberto.

10 This is Andy Kolb from Nemours A.I. duPont
11 Hospital for Children. It took me a while to
12 respond because I wasn't sure if this was pro or
13 con for rapid development. It appears to me in the
14 adult literature and the compassionate-use data
15 that's available from pediatric patients, or the
16 adult trial and the compassionate-use data, that
17 the safety profile is favorable for this drug,
18 either monotherapy or in combination.

19 As Dr. Gore and Dr. Brown so eloquently put
20 it, I think that this is a population of patients
21 that, for whom were quite risk tolerant, survival
22 is poor in infants with KMT2A mutated ALL and AML,

1 and survival is poor in any KMT2A mutated relapsed
2 patient. We tend to be quite risk tolerant in
3 these patients who need aggressive therapy to get
4 back into remission and in aggressive therapy
5 consolidation with bone marrow transplant to ensure
6 survival, and both are absolutely necessary for
7 survival.

8 I think the cooperative groups and most
9 sites that participate are very experienced in
10 giving novel agents in combination with intensive
11 therapy for patients with these disease types.

12 Thank you.

13 DR. PAPPON: Malcolm?

14 DR. SMITH: Thank you, Alberto.

15 Malcolm Smith, NCI. I would agree with all
16 the speakers that this is an agent that warrants
17 fast-tracking for evaluation in children. It
18 really checks all the boxes. Adults appear to
19 tolerate the agent at doses associated with
20 activity. It targets a genomic alteration that's a
21 clear driver for a high-risk subset of pediatric
22 leukemias, the functional genomics for all the

1 targeted agents. And the preclinical data to
2 support it really shows profound activity. That a
3 treatment course with a single agent in these very
4 aggressive PDX-MLL rearranged models can induce
5 complete remissions that last for hundreds of days
6 is really a remarkable level of preclinical
7 activity.

8 I think the thing that I would say that
9 builds on that would be in thinking about how to
10 use this in combination, this is an agent where
11 SNDX-5613 will probably be the most active agent of
12 any of the agents in the combination. So often we
13 think of adding an agent to a combination, and we
14 have to use the most aggressive toxic therapies
15 because these are high-risk patients.

16 I think the possibility that -- this is a
17 very active agent for MLL-rearranged leukemias, and
18 the idea that the best way to use it in combination
19 is to use it at a full dose and then add in the
20 chemotherapy that we can, and really achieve the
21 full benefit of using this agent. I think Dr.
22 Stein's remarkable example in the patient that he

1 treated shows that this agent really could be
2 transformative. Thank you.

3 DR. PAPPO: Thank you very much, Malcolm.
4 Elizabeth?

5 DR. RAETZ: Sorry. I was just going to echo
6 those same sentiments and just add to that, that
7 there has been great precedent for adding these
8 novel agents in infant leukemia, as Dr. Gore and
9 Dr. Brown so eloquently pointed out. My other
10 points were covered by Dr. Smith and Dr. Kolb.
11 Thank you.

12 DR. PAPPO: Thank you.

13 Let me see if anybody else has raised their
14 hand.

15 Malcolm, do you have a follow-up question
16 or comment?

17 DR. SMITH: I'm sorry. I don't. I'll
18 lower my hand.

19 DR. PAPPO: Well, what I've heard so far is
20 there is overwhelming enthusiasm about this very
21 active and promising agent, and it meets the
22 criteria for fast-track evaluation in children with

1 MLL-rearranged leukemias. It meets all of the
2 criteria of almost an ideal agent. It has a very
3 favorable safety profile. It targets a specific
4 driver in MLL-rearranged leukemias.

5 There's strong preclinical and functional
6 genomics to further validate its activity, and I
7 think one of the issues is how do we incorporate
8 this agent into combination therapy. Whether we
9 start with the agent alone or we combine it with
10 chemotherapy is something that needs to be
11 determined.

12 But some of the panel members believe that
13 perhaps the most active component of the therapy
14 will be actually this agent, this Syndax agent.

15 Did I miss anything or did I condense all
16 of your thoughts correctly?

17 (No response.)

18 DR. PAPPO: It sounds like I did good.

19 Let's go to question number 2.

20 DR. REAMAN: The second discussion topic,
21 please consider the adequacy of the currently
22 available PK data in children from the

1 compassionate-use experience in an attempt to model
2 exposure/response after the adult recommended
3 phase 2 doses been defined and demonstrated to be
4 active.

5 Consider some alternative strategies for
6 efficient recommended phase 2 dose definition in
7 children and also consider the effect of strong
8 CYP3A4 inhibition on possible activity and the high
9 likelihood that many infants will be on such
10 endeavors.

11 DR. PAPPO: Andy?

12 DR. KOLB: Thank you, Alberto.

13 This is Andy Kolb from Nemours Alfred I.
14 duPont Hospital for Children. The two different
15 cohorts in the phase 1 trial in adults is
16 interesting. Having the CYP3A4 cohort and the
17 non-CYP3A4 cohort I think is interesting. I do
18 think that the fastest way to a phase 1 or
19 recommended phase 2 dose in an efficacy signal in
20 pediatrics could be to use alternatives to the
21 azoles in this population wherever possible, and
22 that may simplify the trial.

1 I still think we need PK data because azole
2 use is so common, but with active alternatives out
3 there or even moderates CYP3A4 inhibitors, it would
4 be nice to see a slightly more simplified design in
5 pediatrics to get to a recommended phase 2 dose in
6 an efficacy signal as quickly as we can. I'd be
7 curious to hear other's thoughts on given the
8 common use of these inducers. Thank you.

9 DR. PAPPO: Leo?

10 DR. MASCARENHAS: Hi. This is Leo
11 Mascarenhas from Children's Hospital Los Angeles,
12 and I didn't get to ask this question earlier, but
13 I think it's relevant to this discussion.

14 Given the prominent role of CYP3A4 and the
15 metabolism of this drug, and the fact that newborn
16 infants have really low levels of CYP3A4, and they
17 reach about 50 percent of adult levels between the
18 ages of 6 to 12 months, I wonder about
19 automatically assuming whether the adult
20 recommended phase 2 dose would be acceptable in
21 those less than one year of age.

22 I don't think I have concerns above one

1 year of age, but I wonder whether a rapid
2 inpatient escalation should be considered to
3 make it the safest possible way, as well as the
4 most efficacious.

5 My second comment -- and I apologize if I
6 missed this -- is infants with ALL do have a high
7 incidence of central nervous system disease, and I
8 wonder about the penetration of this drug or the
9 biodistribution. I don't know if anybody has any
10 information about that, and that may be a
11 consideration. Thank you.

12 DR. PAPPO: Thank you.

13 Does anybody know the answer to Leo's
14 question about the CNS penetration of the same SNDX
15 compound? Something that we will put on the -- I'm
16 sorry. Go ahead, please.

17 (No response.)

18 DR. PAPPO: We'll just put this on the
19 minutes, then.

20 The next panelist is Julia.

21 DR. GLADE BENDER: Good afternoon. Julia
22 Glade Bender. Again, I'm going to focus on getting

1 rapidly to the dose for children because I think
2 there's a very high likelihood that this targeted
3 agent may very well be the most active agent in
4 these children, and more often than not when we do
5 a stripped dose-finding phase 1 experiment and get
6 our PK after the fact, we find that our toxicities
7 were actually not related to the pharmacokinetics
8 of the agent and were probably idiopathic.

9 So I would ask the company if they can
10 think about a strategy of real-time PK to get
11 individual children who enroll on the study to an
12 exposure that they believe will be associated with
13 response, and perhaps the dose finding could be the
14 target exposure level and not so much the amount of
15 drug that was given to the patient. Thank you.

16 DR. MEYERS: Dr. Pappo, may I address the
17 questions Dr. Mascarenhas asked because I do have
18 the answers to them?

19 DR. PAPP0: It is my understanding that at
20 this stage you are not allowed to address the
21 panel. That's what I know.

22 Greg, do you agree?

1 DR. REAMAN: I think it would be important
2 for the discussion to know about the CNS
3 penetration. I think it's extraordinarily
4 pertinent to our discussion here with --

5 DR. PAPPO: Okay. Please go ahead. Then
6 please go ahead.

7 DR. MEYERS: Thank you for that
8 opportunity. So we don't know the extent of 5613
9 brain penetration in animals or humans. Those
10 studies are planned in animals. However, based on
11 the size and physicochemical characteristics of
12 5613, we predict that there's probably a low
13 probability of brain penetration.

14 There's a so-called multiparameter
15 optimization score, quote, "an MPO score" that
16 predicts brain penetration, and ours is lower than
17 would predict a high probability of brain
18 penetration. That being said, prophylaxis and
19 treatment of CNS disease is allowed in our
20 pediatric phase 1 trial.

21 The second question that was raised was
22 about CYP3A4 inhibition, and we would just cite a

1 paper by Eupretti [ph] et al. in 2016, suggesting
2 that CYP3A4 reaches adult levels or higher in
3 infants 1 month of age, by 1 month of age. We
4 realize that is in contrast to the conventional
5 wisdom, however, we do think that it may represent
6 important data to consider in terms of deciding the
7 lower limit of children included on the phase 1
8 trial.

9 DR. PAPPO: Thank you for those
10 clarifications.

11 Anybody else have any additional comments
12 or wants to raise their hand? I still see Julia
13 and Leo. I don't know if you had additional
14 comments or you just forgot to lower your hand.

15 I have Greg.

16 DR. REAMAN: I would just thank Dr. Meyers
17 for that information about the CNS penetration,
18 which I think is an important consideration here.
19 I think there's also some considerable variability
20 with respect to CYP3A4 maturation. It may be that
21 would be a requirement for a pharmacogenetic
22 evaluation for the youngest patients being

1 considered for enrollment on the study as well.

2 I just want to comment also on Dr. Glade
3 Bender's comment about real-time PK. It sort of
4 dovetails with the sponsor's comment about
5 evaluating QTc prolongation and a strategy of dose
6 reduction or dose interruption and whether or not
7 evaluating PK in that setting in the real-time
8 fashion would actually be something that would
9 prevent automatic reductions and interruption given
10 the fact that it looks like continuous exposure to
11 this agent is required for either in nonclinical or
12 clinical efficacy.

13 DR. PAPPO: Thank you.

14 Any other clarifying comments or questions?
15 Julia?

16 (No response.)

17 DR. PAPPO: I don't know if you had a
18 follow-up comment, Julia, or no.

19 DR. GLADE BENDER: Yes, just in response to
20 Dr. Reaman and for purposes of discussion, I think
21 what is compelling me to really consider
22 inpatient dose escalation is the clear evidence

1 that in 6 out of 6 children in the SPU situation,
2 the compassionate-use situation, not one of them
3 achieved a drug exposure that was anticipated to be
4 associated with response.

5 So my point is just to make sure that
6 patients are in the exposure level we anticipate to
7 be response, worthy of response, or able to achieve
8 response, because the idea of treating children
9 long-term and in combination with chemotherapy when
10 they're taking this drug, and it's not even
11 achieving exposure levels that we anticipate will
12 be associated with response, doesn't seem the right
13 thing to do to me, to continue a drug that is not
14 being given in an adequate dose to obtain exposures
15 that would be associated with response.

16 DR. PAPPON: Greg?

17 DR. REAMAN: Just to clarify that, there's
18 no argument, I don't think on our part, about your
19 comment. That was part of the reason for the first
20 question with respect to the extent of the
21 pharmacology data from the expanded access program
22 in children

1 and how sufficient that may be in actually
2 developing a dosing strategy for a pediatric study.

3 DR. PAPPO: Thank you very much.

4 Tobey?

5 DR. MacDONALD: Tobey MacDonald, Emory
6 University. I wanted to ask this question, and
7 then here I'll comment, which I think might be
8 relevant to question 2 and 3, and apologize if I
9 missed it. But I was curious whether there was any
10 ability to measure, in the clinical studies,
11 biomarkers predictive of response that could
12 complement the PK hand-in-hand in real time, such
13 as HOXA transcriptional changes or other biomarkers
14 of response to confirm on-target effects are being
15 observed and mediated by the drug.

16 I think that goes to question 3 later,
17 where obviously cell kill in remission, but if we
18 have other therapeutic agents to ensure that the
19 drug is working as anticipated. So I didn't know
20 if there were any correlative biology studies
21 planned for this trial. I didn't see it but,
22 again, maybe I missed it and defer to my leukemia

1 colleagues, who are experts.

2 DR. PAPPO: I'm okay.

3 DR. MEYERS: Dr. Pappo?

4 DR. PAPPO: Yes? Go ahead, please. Go
5 ahead.

6 DR. MEYERS: Actually, there is a
7 slide AA-52 that addresses that question. We
8 absolutely agree with Dr. MacDonald's point. In
9 both the adult trial and the pediatric trial, we
10 have aggressive pharmacogenomic marker assessments
11 that are actually paired with our PK assessments.

12 For example, we will be obtaining, on day 1
13 and 8 from peripheral blood ChIP-seq to establish
14 menin chromatin interactions. As I noted menin
15 dissociates from chromatin when it is bound to
16 5613. We will also be doing RNA-Seq in bone marrow
17 to determine the HOXA and NIS1 gene expression
18 profiles, as well as any others that may evolve as
19 we look at the activity of the drug in humans.

20 So we are very mindful of the fact that we
21 do need to develop pharmacodynamic markers that can
22 at least allow us to make judgments as to the

1 adequacy of RPK coverage. Thank you for that
2 question and the opportunity to answer.

3 DR. PAPPO: Thank you.

4 I think that, Greg, you raised your hand
5 again.

6 (No response.)

7 DR. PAPPO: Greg, do we have any additional
8 comments or questions?

9 (No response.)

10 DR. PAPPO: The hand has been lowered, so
11 the answer is no.

12 So if there are not any additional
13 questions, I think a lot of the questions that were
14 raised by the panelists were adequately addressed
15 by Syndax. The only thing I wanted to add were a
16 couple of comments, one from Andy in which perhaps
17 limiting the use of azoles could address the issue
18 of CYP3A4 interactions.

19 Also, given the variation and the
20 maturation of the CYP3A4 pathway, it is still
21 debatable, at least in my opinion, which is the
22 optimal cutoff of age for enrollment, whether it's

1 one month of age or older or older than 1 year of
2 age. The issue of real-time PK has been raised a
3 couple of times, and perhaps considering
4 inpatient dose escalation to optimize dosing and
5 achieve the levels that are necessary for
6 inhibition of MLL and menin.

7 I don't know if I've left anything out or
8 if anybody else wants to add anything to what I've
9 said.

10 (No response.)

11 DR. PAPPO: Okay. We will then proceed to
12 question number 3.

13 DR. REAMAN: Question number 3 for the
14 discussion, given the adult experience to date and
15 the requirement for extended continuous dosing to
16 achieve a response, consider how the activity of
17 SNDX-5613 might be assessed in a single-agent
18 setting in a disease characterized by very
19 aggressive clinical course relapse.

20 Given the adult experience with added
21 cytoreductive therapy, consider the potential
22 development strategy using relapsed therapy

1 backbones that may have previously been used even
2 prior to enrollment on a study with SNDX-5613 in
3 both KMT2A rearranged ALL and AML, as well as mixed
4 phenotype leukemia.

5 DR. PAPPO: This question is now opened for
6 comments. Some of these issues have been discussed
7 a little bit in question number 2, but I think
8 there are other things to address.

9 Andy?

10 DR. KOLB: Hi. This is Andy Kolb from
11 Nemours Alfred I. duPont Hospital for Children. I
12 do think that this is a key question and one that
13 all of us are struggling with as we think about the
14 development of this compound. In first relapsed
15 AML with a KMT2A mutation with conventional
16 therapy, the CRA is probably close to 70-75
17 percent, so demonstrating a benefit above that is a
18 challenge. Overall survival is around 40 percent
19 in KMT2A rearranged leukemias that experience a
20 relapse, but time-to-event analysis with a
21 historical comparator is not usually reviewed
22 favorably.

1 I know in the Syndax presentation, there
2 was a mention of a randomized trial, which I think
3 we don't have -- forgive the pun, but we don't have
4 the patience or the patients for, both spellings of
5 the word. This is a rare subset of a rare disease,
6 and I think given the enthusiasm around this trial,
7 it would be difficult for investigators to consent
8 to a randomized trial.

9 We need to think about this as a single-arm
10 study with effective backbone therapy to control
11 very aggressive disease, and we need to consider
12 meaningful assessments of efficacy, and I think the
13 most meaningful is survival. We have many kids who
14 go into remission who don't survive. Ultimately,
15 we need to improve survival in these kids.

16 Given the time constraints, I didn't have
17 an opportunity to ask this previously, but as a
18 comment for Syndax, regardless of the induction of
19 remission and the rapidity of that, the next step
20 for these patients is transplant. So in assessing
21 the safety of this drug, I think we have to
22 consider post-transplant administration as well.

1 You may only have time for 1 to 2 cycles
2 pre-transplant, especially while we have limited
3 experience with this compound.

4 Meaningful exposure to the drug will
5 require post-transplant administration, and with
6 that we may be able to see dramatic improvements in
7 survival given the activity we expect with this
8 drug. We just need to make sure that survival is
9 an acceptable endpoint when compared to a
10 historical control. Thank you.

11 DR. PAPPO: Elizabeth?

12 DR. RAETZ: Elizabeth Raetz. I think in
13 terms of the infant ALL population, as pointed out
14 by Dr. Gore and Dr. Brown, their ability to be
15 salvaged is so poor, and responses in the
16 relapsed/refractory setting have been so poor that
17 I think even an opportunity to look early on as to
18 whether you can achieve a remission might be
19 [indiscernible] information.

20 Designs that have been utilized previously
21 with a monotherapy window and to have some sense of
22 the pharmacodynamic and pharmacokinetic, and

1 responses to early activity to the single agent
2 followed by the combination, and then looking at
3 the ability comparatively to achieve a remission to
4 a comparable historical control might be something
5 that would provide some useful information. Thank
6 you.

7 DR. PAPPO: Thank you.

8 Malcolm?

9 DR. SMITH: Thank you, Alberto.

10 Malcolm Smith, NCI. I wanted to make a
11 general comment about the potential for
12 randomization. Andy makes a good point that there
13 may be populations for which randomization wouldn't
14 be possible, but I think as a general principle,
15 randomization will be preferred in evaluating a new
16 treatment to understand efficacy and toxicity.

17 But for these small populations, the
18 ability to conduct a randomized study can be
19 jeopardized by requiring very stringent type 1
20 error rates. There are unintended consequences to
21 applying stringent type 1 error rates that would
22 apply, and the adult much larger cancer populations

1 to these small pediatric populations, I think they
2 can make the trials infeasible. They can delay
3 answers to questions and they block alternative
4 options because these are small populations, which
5 in North America, only one new treatment option can
6 be studied.

7 So to the extent that there will be
8 randomized studies of this agent in one or more
9 populations, I think relaxing the type 1 error
10 rates, and instead of the conventional .025
11 one-side type 1 error rates, accept the 0.05 or 0.2
12 as a way to get a randomized study accomplished and
13 get an answer for this very small pediatric
14 population.

15 I would encourage -- we want to have more
16 effective treatments in the relapsed setting, and
17 that's where we test new strategies first. But our
18 ultimate goal is to cure children the first time
19 around, so to do what we need to do in the relapsed
20 setting, but then as quickly as possible move it up
21 front. Move the treatment strategies up front for
22 the infant ALL population as well as for the AML

1 populations with the MLL-rearranged. So those are
2 the two points I would make.

3 DR. PAPPO: Thank you very much. I had a
4 question and comment also. I don't know what the
5 median time to response was because I think that
6 would be very important to determine how long you
7 would give this as a monotherapy.

8 I was going to follow up on Elizabeth's
9 observation. I think that doing a small quick
10 window, maybe a week or two -- I don't know exactly
11 how quickly you see responses to assess PK. If you
12 wanted to do a specific PK and inpatient dose
13 escalation to achieve the levels that you want to
14 achieve, it would be ideal, followed quickly by
15 combination therapy. But again, I think that would
16 be highly dependent on how quickly the leukemia is
17 progressing and when do you expect to see a
18 response with this drug. So that was the only
19 other observation I had.

20 Malcolm?

21 DR. SMITH: I did want to respond to that,
22 Alberto. I think in terms of the rapidity of

1 response, I think you heard from Syndax a response
2 that occurred in the first course. You heard from
3 Dr. Stein a response that occurred quickly. In the
4 PDX models, while there could be increasing blast
5 in the first week of treatment, by day 1, in almost
6 all the models tested, the blast levels had
7 markedly decreased.

8 So I think this is an agent that is capable
9 of marked early responses, and I think we just have
10 to see when we treat children at doses that achieve
11 the levels that we want.

12 The additional one point I want to make is
13 this. We have two recent models from ALL phase 3
14 trials for improving outcomes for children with
15 ALL. One is for imatinib, where imatinib was given
16 throughout therapy and improved outcome for the
17 pH-positive ALL. The other is from nelarabine for
18 T-ALL, where it was given interspersed with
19 conventional therapy, and it as well improved
20 outcome.

21 So I think there will be things to think
22 about as we understand the agent more and we

1 understand how well it's tolerated in combination
2 and how active it is as a single agent. I think
3 the people designing the studies will need to think
4 about the best approach in bringing this into our
5 upfront treatment for pediatric ALL and pediatric
6 AML.

7 DR. PAPPO: Thank you, Malcolm.

8 I don't see any other hands. Does anybody
9 have any additional comments or questions? Greg?

10 DR. REAMAN: I just want to respond or
11 follow up on Dr. Smith's point about nelarabine and
12 the strategy that was used as a single agent and
13 then in combination. Before that combination
14 strategy was employed, there was pretty robust
15 evidence of activity clinically, but single agents.

16 So the situation is not quite the same
17 here, although the situation with respect to the
18 prognosis of relapsed patients is probably very
19 similar. But I think before moving to combination,
20 there was really very strong evidence of
21 single-agent activity in nelarabine and T-cell
22 acute leukemia.

1 DR. PAPPO: Thank you, Greg.

2 I think some of the questions were answered
3 either by the sponsor or by Malcolm regarding when
4 do you achieve a response, and also the correlative
5 studies and biomarker studies to try to address the
6 efficacy of this treatment. The optimal
7 combination is still to be determined. One of the
8 considerations will be also to assess the efficacy
9 of this agent to establish overall survival as an
10 endpoint.

11 There was some concern about randomized
12 studies given the small population, however,
13 perhaps looking at the statistical design and
14 relaxing some of the statistical endpoints would
15 allow for this to be done. Also, if this proves to
16 be efficacious and the relapse happening, to think
17 about moving this relatively quickly to upfront
18 therapies.

19 I think that's all I have unless I'm
20 missing something.

21 Greg, you have your hand raised. Is there
22 anything I missed, or anybody, or anybody else

1 wants to add anything?

2 DR. REAMAN: No. I'm sorry. I forgot to
3 lower it again. I apologize. I think that was
4 all.

5 DR. PAPPO: Are there any additional
6 comments? If not, we'll move onto Greg Reaman for
7 closing remarks.

8 **Closing Remarks - Gregory Reaman**

9 DR. REAMAN: Well, my only closing remark
10 is, again, to thank you the panel for the excellent
11 discussion, and Syndax for their presentation of a
12 uniquely interesting novel agent that I think we
13 all unequivocally agree addresses one of the
14 biggest unmet clinical needs in pediatric oncology.

15 So thank you all for the discussion and
16 also for your flexibility and patience again with
17 doing this pediatric subcommittee meeting in a
18 virtual setting. Hopefully this won't be the
19 everlasting normal, but then again, maybe it will.
20 I think despite all the difficulties that we
21 envisioned, I think things actually went pretty
22 smoothly from a technological perspective. So

