

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

PULMONARY-ALLERGY DRUGS ADVISORY COMMITTEE (PADAC)

Virtual Meeting

Monday, August 31, 2020

10:03 a.m. to 3:57 p.m.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Meeting Roster

ACTING DESIGNATED FEDERAL OFFICER (Non-Voting)

Philip Bautista, PharmD

Division of Advisory Committee and
Consultant Management
Office of Executive Programs, CDER, FDA

PULMONARY-ALLERGY DRUGS ADVISORY COMMITTEE MEMBERS

(Voting)

Emma H. D'Agostino, BS

(Consumer Representative)
Advocate
Cystic Fibrosis Foundation
Graduate Research Assistant
Emory University
Atlanta, Georgia

1 **Scott E. Evans, MD, FCCP, ATSF**

2 Professor

3 Director, Basic & Translational Research

4 Department of Pulmonary Medicine

5 University of Texas MD Anderson Cancer Center

6 Houston, Texas

7

8 **John M. Kelso, MD**

9 Staff Physician

10 Division of Allergy, Asthma, and Immunology

11 Scripps Clinic

12 San Diego, California

13

14

15

16

17

18

19

20

21

22

1 **Gailen D. Marshall Jr., MD, PhD, FACP**

2 The R. Faser Triplett Sr MD Chair of
3 Allergy and Immunology
4 Medical Director, UMMC Clinical Research
5 Support Program/Clinical Research and Trials Units
6 Professor of Medicine, Pediatrics and Pathology
7 Vice Chair for Research, Department of Medicine
8 Director, Division of Clinical
9 Immunology and Allergy
10 Chief, Laboratory of Behavioral
11 Immunology Research
12 The University of Mississippi Medical Center
13 Jackson, Mississippi

14

15 **Susanne May, PhD**

16 Professor, Director of UW Clinical Trials Center
17 Department of Biostatistics
18 University of Washington
19 Seattle, Washington

20

21

22

1 **Carrie A. Redlich, MD, MPH**

2 Professor of Medicine, Pulmonary Section &
3 Occupational and Environmental Medicine
4 Director, Yale Occupational and Environmental
5 Medicine Program
6 Yale University School of Medicine
7 New Haven, Connecticut

8

9 **James M. Tracy, DO**

10 Associate Clinical Professor of Pediatrics
11 University of Nebraska College of Medicine
12 Allergy, Asthma and Immunology Associates, PC
13 Omaha, Nebraska

14

15 **PULMONARY-ALLERGY DRUGS ADVISORY COMMITTEE MEMBER**

16 **(Non-Voting)**

17 **Dawn M. Carlson, MD, MPH**

18 *(Industry Representative)*

19 Vice President

20 Clinical Pharmacology and Pharmacometrics

21 Abbvie, Inc

22 North Chicago, Illinois

1 **TEMPORARY MEMBERS (Voting)**

2 **Paula Carvalho, MD, FCCP**

3 Professor of Medicine

4 Division of Pulmonary, Critical Care, and Sleep
5 Medicine

6 University of Washington, Seattle

7 Academic Section Chief and Director, Intensive Care
8 VA Medical Center

9 Boise, Idaho

10

11 **Lori E. Dodd, PhD**

12 Mathematical Statistician

13 Biostatistics Research Branch

14 Division of Clinical Research

15 National Institute of Allergy and
16 Infectious Diseases

17 Nationals Institutes of Health

18 Bethesda, Maryland

19

20

21

22

1 **Susan S. Ellenberg, PhD**

2 Professor of Biostatistics and Interim Chair
3 Department of Biostatistics, Epidemiology and
4 Informatics
5 Professor of Medical Ethics and Health Policy
6 Perelman School of Medicine
7 University of Pennsylvania
8 Philadelphia, Pennsylvania

9

10 **Patricia Lupole**

11 *(Patient Representative)*
12 Norfolk, Virginia

13

14 **Francis X. McCormack, MD**

15 Taylor Professor and Director
16 Division of Pulmonary, Critical Care and
17 Sleep Medicine
18 Department of Internal Medicine
19 University of Cincinnati
20 Cincinnati, Ohio

21

22

1 **Benjamin Medoff, MD**

2 Associate Professor of Medicine

3 Harvard Medical School

4 Chief, Division of Pulmonary and

5 Critical Care Medicine

6 Massachusetts General Hospital

7 Boston, Massachusetts

8

9 **Steven D. Shapiro, MD**

10 Executive Vice President,

11 University of Pittsburgh Medical Center

12 Chief Medical and Scientific Officer

13 President, Health Services Division

14 Distinguished Professor of Medicine

15 University of Pittsburgh School of Medicine

16 Pittsburgh, Pennsylvania

17

18 **James K. Stoller, MD, MS**

19 *(Acting Chairperson)*

20 Professor & Chairman, Education Institute

21 Cleveland Clinic

22 Cleveland, Ohio

1 **FDA PARTICIPANTS (Non-Voting)**

2 **Sally Seymour, MD**

3 Director

4 Division of Pulmonology, Allergy, and Critical Care
5 (DPACC)

6 Office of Immunology and Inflammation (OII)

7 Office of New Drugs (OND), CDER, FDA

8

9 **Banu Karimi-Shah, MD**

10 Deputy Director

11 DPACC, OII, OND, CDER, FDA

12

13 **Robert Busch, MD, MMSC**

14 Medical Officer

15 DPACC, OII, OND, CDER, FDA

16

17

18

19

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Yongman Kim, PhD

Lead Mathematical Statistician
Division of Biometrics III (DB3)
Office of Biostatistics (OB)
Office of Translational Science (OTS)
CDER, FDA

Susan Duke, MS, MS

Statistical Reviewer
DB3, OTS, CDER, FDA

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C O N T E N T S

AGENDA ITEM	PAGE
Call to Order and Introduction of Committee	
James Stoller, MD, MS	13
Conflict of Interest Statement	
Philip Bautista, PharmD	22
FDA Introductory Remarks	
Banu Karimi-Shah, MD	26
Applicant Presentations - GlaxoSmithKline	
Request to Amend the TRELEGY ELLIPTA	
Label to Include Data on the Reduction in	
Risk of All-Cause Mortality in	
Patients with COPD	
C. Elaine Jones, PhD	30
Clarifying Questions to the Applicant	47
FDA Presentation	
FDA Summary Presentation	
Banu Karimi-Shah, MD	73
Clarifying Questions to the FDA	92

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C O N T E N T S (continued)

AGENDA ITEM	PAGE
Open Public Hearing	114
Clarifying Questions (continued)	124
Questions to the Committee and Discussion	156
Adjournment	253

1 P R O C E E D I N G S

2 (9:02 a.m.)

3 **Call to Order**

4 **Introduction of Committee**

5 DR. STOLLER: Good morning, and welcome. I
6 would first like to remind everyone to please mute
7 your line when you're not speaking. For media and
8 press, the FDA press contact is Chanapa
9 Tantibanchachai. Her email and phone number are
10 currently displayed.

11 Good morning. My name is Dr. Jamie Stoller,
12 and I will be chairing today's meeting. I will now
13 call the August 31, 2020 Pulmonary-Allergy Drugs
14 Advisory Committee meeting to order. Dr. Phil
15 Bautista is the designated federal Officer for
16 today's meeting, and he will begin with
17 introductions.

18 DR. BAUTISTA: Good morning, everybody. My
19 name is Phil Bautista, and I am the designated
20 federal officer for today's meeting. When I call
21 your name, please introduce yourself by saying your
22 name and affiliation, and for those voting members,

1 please also state for the record that you have
2 viewed the FDA and GSK prerecorded presentations in
3 their entirety.

4 Ms. D'Agostino?

5 MS. D'AGOSTINO: Hi. My name is Emma
6 D'Agostino. I am a consumer representative. I'm a
7 graduate student at Emory University and a patient
8 advocate with the Cystic Fibrosis Foundation. And
9 yes, I confirm that I have viewed the presentations
10 in their entirety.

11 DR. BAUTISTA: Dr. Evans?

12 DR. EVANS: This is Scott Evans. I am a
13 pulmonologist at MD Anderson Cancer Center in
14 Houston, and I confirm that I have viewed all of
15 the prerecorded presentations in preparation for
16 today's meeting.

17 DR. BAUTISTA: Dr. Kelso?

18 DR. KELSO: My name is John Kelso. I'm an
19 allergist at Scripps Clinic in San Diego, and I
20 have viewed all of the pre-meeting presentations
21 from both the FDA and the applicant.

22 DR. BAUTISTA: Dr. Marshall?

1 DR. MARSHALL: Good morning. Gailen
2 Marshall. I'm an allergist-immunologist at the
3 University of Mississippi Medical Center in
4 Jackson, and I confirm that I have viewed all eight
5 of the presentations in preparation for the
6 meeting.

7 DR. BAUTISTA: Dr. May?

8 DR. MAY: I'm Suzanne May, professor of
9 biostatistics at the University of Washington in
10 Seattle, and I have also reviewed all the
11 presentations in their entirety.

12 DR. BAUTISTA: Dr. Redlich?

13 (No response.)

14 DR. BAUTISTA: Dr. Redlich, can you please
15 unmute yourself and state your name and
16 affiliation?

17 (No response.)

18 DR. BAUTISTA: Dr. Redlich, can you please
19 unmute yourself?

20 (No response.)

21 DR. BAUTISTA: Alright. We will come back
22 around for Dr. Redlich.

1 Dr. Tracy?

2 DR. REDLICH: This is Dr. Redlich. Can you
3 hear me now? I have been unmuted.

4 DR. BAUTISTA: Yes, we can hear you now.

5 DR. REDLICH: Okay. This is Dr. Carrie
6 Redlich. I'm professor of medicine at Yale School
7 of Medicine, and also professor of epidemiology and
8 a pulmonologist, and I confirm that I have reviewed
9 the presentations.

10 DR. BAUTISTA: Dr. Tracy?

11 DR. TRACY: Good morning, everybody. I'm
12 Dr. Jim Tracy. I'm an allergist both in private
13 practice and in academic practice in Omaha,
14 Nebraska and University of Nebraska, and I, too,
15 have viewed all of the preliminary presentations.
16 Thank you.

17 DR. BAUTISTA: Dr. Dawn Carlson?

18 DR. CARLSON: Hi. I'm Dawn Carlson, the
19 industry representative, and I'm currently
20 [inaudible - audio fades].

21 DR. BAUTISTA: Hi, Dr. Dawn Carlson. Can
22 you repeat yourself?

1 DR. CARLSON: Can you hear me?

2 DR. BAUTISTA: Yes, I can hear you clearly
3 now.

4 DR. CARLSON: I'm Dawn Carlson. I'm the
5 industry representative. I work at Abbvie in
6 clinical pharmacology and pharmacometrics, and I
7 have reviewed the materials.

8 DR. BAUTISTA: Thank you.

9 Dr. Carvalho?

10 DR. CARVALHO: Good morning. I'm Paula
11 Carvalho, and I'm a pulmonologist and intensivist
12 with the University of Washington and the Boise VA
13 Medical Center, and I confirm that I viewed all
14 eight recorded presentations. Thank you.

15 DR. BAUTISTA: Dr. Dodd?

16 DR. DODD: Hello. I'm Lori Dodd. I'm a
17 biostatistician at the National Institute of
18 Allergy and Infectious Diseases. I confirm that I
19 have read the prerecorded presentations from the
20 FDA and GSK prior to this meeting. Thank you.

21 DR. BAUTISTA: Dr. Ellenberg?

22 DR. ELLENBERG: Good morning. I'm Susan

1 Ellenberg. I'm a professor of biostatistics at the
2 Perelman School of Medicine at the University of
3 Pennsylvania, and I confirm that I have listened to
4 all of the presentations.

5 DR. BAUTISTA: Ms. Lupole?

6 MS. LUPOLE: Hello. My name is Patricia
7 Lupole. I am the patient representative and
8 advocate. I confirm that I read the FDA and GSK
9 prerecorded presentations in their entirety. Thank
10 you.

11 DR. BAUTISTA: Dr. McCormack?

12 DR. McCORMACK: My name is Frank McCormack.
13 I'm a pulmonologist and professor of medicine at
14 the University of Cincinnati, and I confirm that
15 I've reviewed the GSK and FDA videos. Thank you.

16 DR. BAUTISTA: Dr. Medoff?

17 DR. MEDOFF: Yes. My name is Ben Medoff.
18 I'm a pulmonologist and intensivist at Mass General
19 Hospital in Boston, and I confirm that I've viewed
20 all the pre-meeting material.

21 DR. BAUTISTA: Dr. Shapiro?

22 (No response.)

1 DR. BAUTISTA: Dr. Shapiro, will actually be
2 joining us a little later. At the next opportune
3 time, we'll ask him to introduce himself for the
4 record.

5 Dr. Stoller?

6 DR. STOLLER: Yes. Good morning. This is
7 Dr. Jamie Stoller. I'm a pulmonary critical care
8 doc at the Cleveland Clinic, and I confirm that
9 I've reviewed all the materials.

10 DR. BAUTISTA: On to the FDA participants,
11 starting with Dr. Seymour?

12 DR. SEYMOUR: Good morning. My name is
13 Dr. Sally Seymour. I'm the director of the
14 Division of Pulmonology, Allergy, and Critical Care
15 at the FDA.

16 DR. BAUTISTA: Dr. Karimi-Shah?

17 DR. KARIMI-SHAH: Good morning, everyone.
18 My name is Banu Karimi-Shah, and I'm the deputy
19 director of the Division of Pulmonology, Allergy,
20 and Critical Care.

21 DR. BAUTISTA: Dr. Busch?

22 DR. BUSCH: Good morning. My name is Robert

1 Busch, and I'm the medical officer in DPACC and the
2 FDA clinical reviewer for this application.

3 DR. BAUTISTA: Dr. Kim?

4 DR. KIM: Good morning, everyone. My name
5 is Yongman Kim. I'm a statistical team leader,
6 Division of Biometrics III in the Office of
7 Biostatistics at FDA.

8 DR. BAUTISTA: Ms. Susan Duke?

9 MS. DUKE: Good morning. My name is Susan
10 Duke. I am in the Division of Biostatistics at FDA
11 and the reviewing statistician for this
12 application.

13 DR. STOLLER: Great.

14 Welcome, everyone. This is Jamie Stoller,
15 and welcome.

16 For topics such as those being discussed at
17 today's meeting, there are often a variety of
18 opinions, some of which are quite strongly held.
19 Our goal is that today's meeting will be a fair and
20 open forum for discussion of these issues and that
21 individuals can express their views without
22 interruption. Thus, as a gentle reminder,

1 individuals will be allowed to speak into the
2 record only if recognized by the chairperson. We
3 will look forward to a productive meeting.

4 In the spirit of the Federal Advisory
5 Committee Act and the Government in the Sunshine
6 Act, we ask that the advisory committee members
7 take care that their conversations about the topic
8 at hand take place in the open forum of the
9 meeting.

10 We're aware that members of the media are
11 anxious to speak with the FDA about these
12 proceedings, however, FDA will refrain from
13 discussing the details of this meeting with the
14 media until its conclusion. Also, the committee is
15 reminded to please refrain from discussing the
16 meeting topic during breaks or lunch. Thank you
17 very much.

18 DR. BAUTISTA: Hi, all. I was informed that
19 Dr. Shapiro has joined the meeting.

20 Dr. Shapiro, can you state your name and
21 affiliation, and whether you have reviewed all
22 prerecorded presentations in preparation for the

1 meeting? Thank you.

2 DR. SHAPIRO: Hi. Steve Shapiro, UPMC,
3 University of Pittsburgh, and yes I have reviewed
4 all the materials. Thank you.

5 **Conflict of Interest Statement**

6 DR. BAUTISTA: Thank you, Dr. Shapiro.

7 I will now read the Conflict of Interest
8 Statement for the meeting.

9 The FDA is convening today's meeting of the
10 Pulmonary-Allergy Drugs Advisory Committee under
11 the authority of the Federal Advisory Committee Act
12 1972. With the exception of the industry
13 representative, all members and temporary voting
14 members of the committee are special government
15 employees or regular federal employees from other
16 agencies and are subject to the federal conflict of
17 interest laws and regulations.

18 The following information on the status of
19 this committee's compliance with the federal ethics
20 and conflict of interest laws, covered by but not
21 limited to those found under 18 U.S.C. Section 208,
22 is being provided to the participants in today's

1 meeting and to the public.

2 FDA has determined that members and
3 temporary voting members of this committee are in
4 compliance with federal ethics and conflict of
5 interest laws. Under 18 U.S.C. Section 208,
6 Congress has authorized FDA to grant waivers to
7 special government employees and regular federal
8 employees who have potential financial conflicts
9 when it is determined that the agency's need for a
10 special government employee's services outweighs
11 his or her potential financial conflict of
12 interest, or when the interest of a regular federal
13 employee is not so substantial as to be deemed
14 likely to affect the integrity of the services
15 which the government may expect from the employee.

16 Related to the discussions of today's
17 meeting, members and temporary voting members of
18 this committee have been screened for potential
19 financial conflicts of interest of their own as
20 well as those imputed to them, including those of
21 their spouses or minor children and, for the
22 purposes of 18 U.S.C. Section 208, their employers.

1 These interests may include investments;
2 consulting; expert witness testimony; contracts,
3 grants, CRADAs; teaching, speaking, writing;
4 patents and royalties; and primary employment.

5 Today's agenda involves supplemental new
6 drug application 209482/S-008 for Trelegy Ellipta,
7 a fixed-dose combination submitted by
8 GlaxoSmithKline for the following proposed labeling
9 claim: reduction in all-cause mortality in patients
10 with chronic obstructive pulmonary disease. The
11 focus of this discussion will be on the efficacy
12 data submitted to support the proposed labeling
13 claim, including the results from the Informing the
14 Pathway of COPD Treatment trial and the influence
15 of inhaled corticosteroids withdrawal on the
16 results.

17 This is a particular matters meeting during
18 which specific matters related to GlaxoSmithKline
19 sNDA will be discussed. Based on the agenda for
20 today's meeting and all financial interests
21 reported by the committee members and temporary
22 voting members, no conflict of interest waivers

1 have been issued in connection with this meeting.
2 To ensure transparency, we encourage all standing
3 committee members and temporary voting members to
4 disclose any public statements they may have made
5 concerning the product at issue.

6 With respect to FDA's invited industry
7 representative, we would like to disclose that
8 Dr. Dawn Carlson is participating in this meeting
9 as a non-voting industry representative, acting on
10 behalf of regulated industry. Dr. Carlson's role
11 at this meeting is to represent industry in general
12 and not any particular company. Dr. Carlson is
13 employed by Abbvie.

14 We would like to remind members and
15 temporary voting members that if the discussions
16 involve any other drugs or firms not already on the
17 agenda for which an FDA participant has a personal
18 or imputed financial interest, the participants
19 need to exclude themselves from such involvement,
20 and their exclusion will be noted for the record.
21 FDA encourages all other participants to advise the
22 committee of any financial relationships that they

1 may have with the firm at issue. Thank you.

2 DR. STOLLER: Thank you, Dr. Bautista.

3 We will now proceed with the FDA
4 introductory remarks from Dr. Banu Karimi-Shah.

5 **FDA Introductory Remarks - Banu Karimi-Shah**

6 DR. KARIMI-SHAH: Thank you very much.

7 Good morning, Dr. Stoller, esteemed advisory
8 committee members, GSK team, my FDA colleagues, and
9 members of the audience, my name is Dr. Banu
10 Karimi-Shah, and I'm a pulmonary and critical care
11 physician and deputy director in the Division of
12 Pulmonology, Allergy, and Critical Care.

13 On behalf of the agency, I'd like to welcome
14 you all to this virtual advisory committee, where
15 we will be discussing the supplemental new drug
16 application for Trelegy Ellipta, for the proposed
17 labeling claim for the reduction in all-cause
18 mortality in patients with COPD.

19 While we would prefer to be sitting in a
20 room with all of you today, we are thankful that we
21 can utilize this virtual setting to proceed with
22 this very important discussion. In an effort to

1 focus the meeting and accommodate different time
2 zones, we have adopted a unique format for today's
3 meeting. Rather than take the time to give our
4 comprehensive presentations this morning, we have
5 provided prerecorded presentations from both the
6 applicant and the agency ahead of the meeting, in
7 addition to the written briefing document. These
8 prerecorded presentations from the applicant,
9 Dr. Robert Busch, Ms. Susan Duke, and myself, as
10 well as their transcripts, have also been posted to
11 our website. We thank you for taking the time to
12 review these materials prior to today's meeting.

13 The agenda for today's meeting will be as
14 follows. After my brief introductory and welcome
15 remarks, I will turn the meeting over to
16 Dr. Stoller and then GSK to give a summary
17 presentation, after which you will have the
18 opportunity to ask clarifying questions of the
19 applicant. I will then return to similarly give a
20 summary presentation from the agency, followed by
21 clarifying questions to FDA.

22 The scope of the clarifying questions to

1 either the applicant or FDA can cover the entirety
2 of their prerecorded and live presentations. The
3 advisory committee panel members may refer to any
4 of the slides that have either been shown in the
5 applicant and FDA's summary presentations, or those
6 that have been provided to you from the
7 comprehensive prerecorded presentation. We will be
8 able to pull up these slides to facilitate the
9 discussion. We ask that you provide the name of
10 the presenter, title of the presentation, and the
11 slide number to facilitate the process.

12 From the agency side, Dr. Robert Busch,
13 Ms. Susan Duke, Dr. Yongman Kim, Dr. Greg Levin,
14 Dr. Sally Seymour, and I will be available to
15 respond to questions. After clarifying questions
16 to the agency, we will take a break for lunch and
17 return for the open public hearing. We will then
18 turn to the discussion points and voting questions,
19 which I will review this morning and that were
20 provided to you in my prerecorded presentation
21 entitled, Charge to the Committee.

22 As we navigate this virtual meeting format

1 together, we thank you for your patience should we
2 experience any technological issues or problems.
3 Thanks again for your participation today. We look
4 forward to a robust discussion. I will now turn
5 the meeting back to Dr. Stoller.

6 DR. STOLLER: Thank you, Dr. Karimi-Shah.

7 Both of the Food and Drug Administration and
8 the public believe in a transparent process for
9 information gathering and decision making. To
10 ensure such transparency at the advisory committee
11 meeting, FDA believes that it's important to
12 understand the context of an individual's
13 presentation.

14 For this reason, FDA encourages all
15 participants, including the GSK non-employee
16 presenters, to advise the committee of any
17 financial relationships that they may have with the
18 applicant such as consulting fees, travel expenses,
19 honoraria, and interests in the applicant,
20 including equity interests and those based on the
21 outcome of the meeting.

22 Likewise, FDA encourages you at the

1 beginning of your presentation to advise the
2 committee if you do not have any such financial
3 relationships. If you choose not to address this
4 issue of financial relationships at the beginning
5 of your presentation, it will not preclude you from
6 speaking.

7 We will now proceed with the GSK summary
8 presentation, please.

9 **Applicant Presentation - Elaine Jones**

10 DR. JONES: Good morning to the chair,
11 members of the advisory committee, and the FDA.
12 I'm Elaine Jones, the medicine development leader
13 for Trelegy Ellipta at GlaxoSmithKline. I would
14 like to thank the advisory committee and the agency
15 for this opportunity to present data on all-cause
16 mortality from the IMPACT study. I will provide a
17 high-level summary of the data that you have
18 previously received from the video presentation and
19 the briefing document. No new data are being
20 presented in this summary.

21 Trelegy is a triple-inhaled therapy taken
22 once daily and approved in September 2017 for the

1 maintenance treatment of patients with chronic
2 obstructive pulmonary disease or COPD. The
3 exacerbation data from the IMPACT study were
4 included in the label for Trelegy in April 2018.

5 During the pre-sNDA discussions for the
6 exacerbation supplement, GSK and FDA agreed that
7 submission of the all-cause mortality data from
8 IMPACT would be submitted in a future supplement
9 after GSK had completed its efforts to obtain the
10 missing vital status data.

11 GSK submitted the supplement in June 2019.
12 The safety profile of Trelegy is consistent with
13 the data submitted in the exacerbation supplement.
14 Consequently, only the efficacy data pertinent to
15 the all-cause mortality endpoint will be discussed
16 today.

17 COPD is a progressive disease, and patients
18 with more advanced COPD have an increased risk of
19 exacerbations and hospitalization. The risk of
20 readmission and death remain elevated for an
21 extended period of time following hospitalization
22 for a COPD exacerbation.

1 Data from a natural history study by Rothnie
2 in nearly 100,000 patients, in a primary care COPD
3 population, demonstrates the relationship between
4 exacerbation frequency and death. Shown here on
5 the X-axis is the time to death in years and on the
6 Y-axis is the proportion of patients who died.

7 As the frequency and severity of
8 exacerbations increases, so does the proportion of
9 patients who died. In fact, the greatest risk of
10 death was in patients with one or more hospitalized
11 exacerbations shown here as severe and represented
12 by the red line. Therefore, a therapy that reduces
13 exacerbations and, more importantly, COPD
14 hospitalizations would be expected to reduce
15 mortality.

16 I would now like to discuss the IMPACT
17 trial. Firstly, a brief description of the IMPACT
18 study design. IMPACT was a year-long, randomized,
19 double-blind, parallel group, multicenter, phase 3
20 exacerbation study in 10,355 patients designed to
21 demonstrate superiority of Trelegy over effective
22 dual inhaler therapies, fluticasone furoate

1 vilanterol combination or FF/VI and umeclidinium
2 vilanterol combination or UMEC/VI, for the
3 reduction in the reduction in the rate of moderate
4 and severe COPD exacerbations.

5 This study was conducted. Because of the
6 time, controversy existed regarding the use of
7 inhaled glucocorticoids in COPD and the relative
8 benefits of triple therapy compared with dual
9 therapy in patients with a history of
10 exacerbations. At the time, regulators and ethics
11 committees reviewed and raised no objection to the
12 study design.

13 The IMPACT trial included
14 clinically-relevant, prespecified endpoints to
15 assess multiple aspects of COPD, including lung
16 function, quality of life, and exacerbation
17 reduction. All-cause mortality was included as a
18 predefined other endpoint with a prespecified
19 analysis plan and was not an exploratory endpoint.

20 Firstly, I will show you the exacerbation
21 data followed by the mortality data. Trelegy,
22 shown in blue, demonstrated a significant

1 15 percent reduction in the rate of moderate and
2 severe exacerbations compared with FF/VI, shown in
3 red, and a 25 percent reduction compared with
4 UMEC/VI, shown in green, both with a p-value of
5 less than 0.001.

6 Trelegy, shown in blue, reduced the rate of
7 on-treatment severe exacerbations, those requiring
8 hospitalization, by 13 percent compared with FF/VI,
9 shown in red, although this did not achieve
10 statistical significance.

11 Trelegy reduced the rate of severe COPD
12 exacerbations by 34 percent compared to UMEC/VI,
13 shown in green, which was highly statistically
14 significant. Both the moderate-severe and severe
15 exacerbation data are presently in the label. This
16 reduction in hospitalized exacerbations is
17 clinically relevant for patients and could
18 translate into a reduction in the risk of dying.

19 In fact, that is what we do see. In this
20 Kaplan-Meier plot showing time to death on the
21 X-axis and probability of dying on the Y-axis,
22 there is a 28 percent reduction in the risk of

1 dying for patients randomized to Trelegy compared
2 with those randomized to UMEC/VI. There was also a
3 numerical reduction in the risk of dying of
4 11 percent for Trelegy compared with FF/VI,
5 illustrating the contribution of the LAMA, UMEC to
6 the overall treatment effect. That's the greatest
7 benefit on all-cause mortality, as seen with a
8 triple combination of Trelegy.

9 The FDA has asked in the division memorandum
10 that you consider five key clinical and statistical
11 points for discussion, and I would like to GSK's
12 perspective. I would like to acknowledge that
13 while there are areas of agreement between the FDA
14 and GSK, there are some differences, which we will
15 highlight.

16 The first point relates to the statistical
17 persuasiveness of the mortality results. We
18 believe that the all-cause mortality result is
19 persuasive because IMPACT was a well-designed,
20 well-conducted, large global multicenter trial.
21 All-cause mortality was a predefined endpoint with
22 a prespecified analysis plan.

1 We did not discuss or agree with the agency
2 the level of statistical significance required for
3 all-cause mortality in the context of IMPACT
4 meeting its primary endpoint. The data are
5 reliable and have high quality with independent
6 adjudication of death, and there was minimal
7 missing data. We have demonstrated clinical
8 plausibility between all-cause mortality and
9 reduction of severe COPD exacerbations. The data
10 from the exacerbating population in SUMMIT provides
11 directly relevant supportive data.

12 We acknowledge that we did not adjust for
13 multiplicity, however, it is important to note that
14 multiplicity adjustments are performed to avoid a
15 study being declared successful when only a few
16 endpoints achieve a p-value of less than 0.05
17 without the context of how many endpoints were
18 tested.

19 This slide shows all of the 34 predefined
20 efficacy endpoints, which compared Trelegy with
21 UMEC/VI in the overall IMPACT population. All but
22 one directionally favored Trelegy; 29 had a p-value

1 less than 0.05 in favor of Trelegy, including 23
2 that had a p-value less than 0.001. Thus, we are
3 not singling out all-cause mortality, and we
4 believe in the statistical persuasiveness of the
5 all-cause mortality findings.

6 The next point I would like to address is
7 the data from TORCH and SUMMIT and their relevance
8 to this discussion. TORCH was the first mortality
9 study conducted in 6,112 patients with COPD,
10 however, it was initiated over 20 years ago. TORCH
11 showed a 17.5 percent reduction in mortality for
12 Advair, the combination of fluticasone propionate
13 and salmeterol compared with placebo with a p-value
14 of 0.052.

15 The ICS and LABA studied in TORCH are not
16 the same as those in Trelegy. In particular,
17 fluticasone proprionate and fluticasone furoate are
18 different molecules and are not metabolized to
19 fluticasone. As TORCH studied, different molecules
20 and the standard of care and treatment guidelines
21 have changed. In particular, there were no
22 long-acting muscarinic antagonists approved at the

1 start of the study. We do not consider that the
2 TORCH data are pivotal to the discussion of the
3 IMPACT mortality data.

4 SUMMIT, however, was conducted more recently
5 than TORCH, and thus the treatment paradigm for
6 patients with COPD was similar to that of IMPACT.
7 It also evaluated FF/VI, the same ICS and LABA
8 components contained in Trelegy, and thus we
9 consider the SUMMIT data directly relevant to this
10 discussion.

11 SUMMIT was a randomized, double-blind,
12 event-driven, placebo-controlled parallel group
13 study that evaluated the efficacy and safety of the
14 combination of FF/VI and the individual components
15 in more than 16,000 patients compared to placebo.
16 The primary endpoint of SUMMIT was all-cause
17 mortality and the median study duration was
18 1.8 years. Patients in SUMMIT were required to
19 have moderate airflow limitation and a heightened
20 cardiovascular risk, however, they were not
21 required to have a history of COPD exacerbations.

22 Shown here is a Kaplan-Meier plot from the

1 SUMMIT study for the primary endpoint of all-cause
2 mortality. I am going to focus on the comparison
3 of FF/VI, shown in red with placebo in black, as
4 this was the predefined primary comparison. SUMMIT
5 showed a 12 percent reduction in the risk of
6 all-cause mortality, which did not reach
7 statistical significance. However, when we look at
8 the nearly 3,500 patients in SUMMIT, who would have
9 met the entry criteria for IMPACT with regard to
10 their exacerbation history, we actually see a much
11 larger reduction in the risk of dying.

12 In this post hoc analysis, we see a
13 clinically meaningful 34 percent reduction in the
14 risk of all-cause mortality for FF/VI compared with
15 placebo with a p-value of 0.013. These data
16 provide evidence, in this frequently exacerbating
17 population, of the treatment benefit of FF/VI on
18 all-cause mortality.

19 The FDA suggests that since the SUMMIT study
20 had more events, it had greater power to show a
21 treatment effect on mortality, however, power also
22 depends on the true treatment effect. Fewer events

1 will be required to power for a larger true
2 treatment effect. This is illustrated in the
3 SUMMIT exacerbating population where we see a
4 34 percent reduction with 59 events on FF/VI and
5 89 events on placebo.

6 We believe that the data from the
7 exacerbating population from SUMMIT provides
8 supportive data, demonstrating the beneficial
9 treatment effect on all-cause mortality and is
10 directly relevant and highly informative to this
11 discussion.

12 The next point I'm going to discuss is the
13 time frame of the mortality events observed in
14 IMPACT. The agency in that briefing document
15 present post hoc exploratory analysis, excluding
16 data from the first 90 days of treatment to explore
17 the time course of mortality.

18 We agree with the agency that these analyses
19 are limited, as they could represent a healthy
20 survivor effect and should be interpreted with
21 caution. The agency notes that these analyses
22 suggest a potential trend of early mortality events

1 in the UMEC/VI arm. GSK disagrees.

2 This graph shows the cumulative number of
3 deaths on UMEC/VI in the overall population. There
4 is not a sudden bolus of deaths for the start of
5 the study in the UMEC/VI arm. The events occur
6 gradually over the course of the study; in fact,
7 89 percent of the deaths occurred after the first
8 30 days.

9 Furthermore, the agency suggests that an ACM
10 effect within 90 days has not been seen in other
11 studies. Here is the Kaplan-Meier plot from the
12 exacerbating population in SUMMIT, and we do start
13 to see a separation within 90 days.

14 The next point I'm going to address is the
15 effect of ICS removal in IMPACT. That is to say
16 that patients who came into the study on an ICS and
17 were randomized to UMEC/VI, not surprisingly, given
18 that IMPACT enrolled a population of frequently
19 exacerbating COPD patients, 71 percent were on an
20 ICS-containing medication at screening either as a
21 triple, dual, or monotherapy, while 29 percent of
22 patients came into the trial on an non-ICS regimen.

1 Because of the 2:2:1 randomization scheme, only
2 approximately 14 percent of patients would have
3 actually undergone ICS withdrawal.

4 The FDA questions whether abrupt ICS
5 withdrawal would make these patients less stable,
6 and we show you data on FEV1 and SGRQ that
7 demonstrate this is not the case. These graphs
8 present change from baseline in trough FEV1 over
9 the course of the study. The panel on the left
10 presents the results of those on an ICS screening,
11 and the one on the right, those who were not on an
12 ICS screening.

13 As anticipated in a progressive disease,
14 FEV1 declines over time in all treatment groups,
15 with a treatment difference remaining consistent.
16 If abrupt removal of ICS was making patients COPD
17 unstable as suggested by the FDA, you would expect
18 the UMEC/VI green line on the left to go below
19 zero. In fact, an improvement in FEV1 was seen.
20 There was no abrupt deterioration in lung function
21 because of ICS withdrawal.

22 These graphs present change from baseline in

1 SGRQ. As a reminder, a decrease in SGRQ score
2 corresponds to an improvement in health status.
3 The panel on the left presents the results of those
4 on an ICS screening, and the one on the right,
5 those who were not on an ICS screening. If abrupt
6 removal of ICS was making patients COPD unstable as
7 suggested by the FDA, you would expect the UMEC/VI
8 green line on the left to go above zero. In fact,
9 an improvement in SGRQ was seen. There was no
10 abrupt deterioration in health status because of
11 ICS withdrawal.

12 We agree with the FDA that the mortality
13 benefit is seen in those patients taking an
14 ICS-containing medication at screening with a
15 39 percent reduction in risk of dying. This is not
16 surprising, as this is a sicker COPD population
17 with a higher rate of severe exacerbations
18 experienced before and during the study; and as we
19 saw from the Rothnie data, those patients with
20 higher numbers of exacerbations and more severe
21 exacerbations are at greater risk of death.

22 We see no evidence of the mortality benefits

1 in the non-ICS users, potentially due to the small
2 sample size and low number of events, and it is not
3 surprising that there is a lower number of deaths
4 in this group, as these patients have less severe
5 disease.

6 The final point that the FDA raises regards
7 the clinical generalizability of the IMPACT ACM
8 results. The FDA has questioned whether the trial
9 design of IMPACT can answer the clinically relevant
10 question of whether Trelegy per se reduces
11 all-cause mortality.

12 The overall population demonstrated a
13 statistically significant 28 percent reduction in
14 risk of dying with a p-value equal to 0.042. Thus,
15 we believe the all-cause mortality data generated
16 in IMPACT are generalizable to a symptomatic
17 exacerbating COPD population and are reflected in
18 our proposed labeling.

19 It should be of little controversy that a
20 reduction in the risk of dying is clinically
21 important. The absolute annual all-cause mortality
22 risk reduction with Trelegy versus UMEC/VI was

1 0.83 percent in the IMPACT study. The magnitude of
2 the benefit is greater than that achieved with
3 smoking cessation, which has been widely accepted
4 to affect mortality in patients with COPD. This is
5 the first pharmacologic therapy to prospectively
6 demonstrate a survival benefit in patients with
7 COPD.

8 Shown here are the data that we are
9 proposing to include in the Trelegy label. The
10 data is proposed to be included as text only, and
11 it's in a similar format to the other endpoint data
12 already included in the Trelegy label.

13 Specifically, there was a 28 percent reduction in
14 all-cause mortality for Trelegy compared with
15 UMEC/VI in the on and off treatment data set.

16 There was a 39 percent reduction in
17 all-cause mortality for Trelegy compared with
18 UMEC/VI in the on and off treatment data set for
19 those patients who were on an ICS prior to the
20 study. There was no evidence of a reduction in
21 all-cause mortality observed for Trelegy compared
22 with UMEC/VI in the on and off treatment data set

1 in patients who were not on an ICS prior to the
2 study.

3 We believe these results and the context
4 regarding the specific patient population are of
5 the utmost importance for physicians to know and to
6 be included in the clinical study section of the
7 Trelegy label given the medical importance of a
8 mortality endpoint.

9 Here with me today to respond to your
10 questions are Dr. David Lipson and Dr. Robert Wise,
11 whose presentations you reviewed in advance of this
12 meeting. It is worth noting that Dr. Wise was a
13 member of the IMPACT independent data monitoring
14 committee.

15 In addition from GSK, we have Dr. Sally
16 Lettis, who is the statistical lead for the
17 project, and Dr. Courtney Crim, the physician lead
18 for TORCH and SUMMIT. We also have Dr. Robert
19 Makuch, who is our statistical consultant.

20 I thank the advisory committee and the
21 agency for the opportunity to present this
22 important data.

1 **Clarifying Questions to the Applicant**

2 DR. STOLLER: Thank you, Dr. Jones.

3 We will now take clarifying questions to
4 GSK. Please use the raised-hand icon to indicate
5 that you have a question and remember to put your
6 hand down after you've asked your question. Please
7 remember to state your name for the record before
8 you speak and direct your question to a specific
9 presenter if you can. If you wish for a specific
10 slide to be displayed, please let us know the slide
11 number if possible.

12 Finally, it would be helpful to acknowledge
13 the end of your question with a thank you and end
14 of your follow-up question with, "That's all for my
15 questions," so we can move on to the next panel
16 member. Thank you very much.

17 I see Dr. Kelso has his hand raised.

18 Dr. Kelso?

19 DR. KELSO: Yes. There seems to be quite a
20 bit of disagreement about this issue about whether
21 or not the difference in mortality was noted in the
22 first 90 days. My eyeball test tells me that, in

1 fact, the curve separated primarily during the
2 first 90 days and then were parallel for the rest
3 of the study.

4 One of the slides that was shown that kind
5 of addresses this was CR-19 in the presentation
6 that we just had. I think it was CO-34 in the
7 original presentation.

8 Do you have this same -- where are the other
9 arms on this slide? This is the UMEC/VI group.
10 Where are the other two curves?

11 DR. JONES: Thank you, Dr. Kelso. Yes, we
12 do have that slide. Slide up.

13 DR. KELSO: Here again, this looks like what
14 I just stated, that when it's presented this way in
15 terms of number of deaths or the mortality rate, as
16 time marches on through the study, it looks to me
17 like there's a clear separation starting at the
18 beginning of the study that happens through roughly
19 90 days, and then the curves are roughly parallel
20 after that. So I don't know how we get around
21 that.

22 DR. JONES: Thanks, Dr. Kelso. You can see

1 the slide here. UMEC/VI is in green, Trelegly is in
2 blue, and FF/VI is in red. We're presenting
3 here -- because of the 2:2:1 randomization, deaths
4 per 1,000 subjects here is on the Y-axis and deaths
5 in the all-treatment arm do accumulate over the
6 entire course of the study. But I'm going to ask
7 Dr. Lettis, our statistician, to address the
8 question you have regarding parallel lines. Thank
9 you.

10 DR. LETTIS: Hello. Sally Lettis,
11 statistics, GSK.

12 I think, yes, we agree that the lines do
13 appear to become parallel around 90 days in the
14 analysis of the most complete data set, which
15 includes vital status both on and off randomized
16 treatment. However, it is impacted by patients who
17 discontinue randomized therapy within 90 days, and
18 in fact twice as many patients stopped UMEC/VI
19 within the first 90 days compared with Trelegly.

20 When patients stop their randomized
21 treatment, they actually get switched to any COPD
22 medication at the discretion of their physician,

1 and 70 percent of those who stopped UMEC/VI during
2 the study went on to an ICS-containing medication.

3 I'd like to share with you an analysis of
4 the on-treatment data just to try and explain what
5 might be happening. So despite the caveats of an
6 analysis excluding post-randomization data, I'd
7 like to show you the Kaplan-Meier plot repeating
8 the FDA exploratory analysis, excluding the first
9 90 days for the on-treatment data only.

10 Can I have QR-33, please? So in this
11 analysis -- oh, it's not up yet. Sorry, I'm
12 waiting for the slide. Thank you.

13 In this analysis, the lines do appear to
14 become parallel after around 90 days -- sorry. In
15 this analysis, you see a clear separation in the
16 lines beyond 90 days, i.e., the treatment benefit
17 of Trelegy is not entirely within the first 90
18 days.

19 I also want to point out that the death rate
20 off randomized therapy is higher than that whilst
21 on randomized therapy, which does suggest it is the
22 more severe patients who are discontinuing from

1 their randomized therapy, and the majority of those
2 patients are prescribed an ICS.

3 So it is true that the patients who are
4 remaining on the therapy could represent a healthy
5 survivor population, as the FDA has suggested.

6 It's therefore not surprising that the separation
7 in the curve that you see on treatment is reduced
8 once you include the off-treatment data.

9 I'd actually like to ask Bob Wise to
10 actually give you his clinical perspective on this.

11 DR. WISE: Thank you, Dr. Lettis.

12 I'm Bob Wise. I'm a pulmonary physician
13 from Johns Hopkins University School of Medicine.
14 I'm here today as a paid consultant to GSK, but I
15 have no financial interest in the outcome of this
16 committee.

17 I think the tendency toward the null that
18 was exhibited in the FDA briefing document,
19 Figure 5, showing the after 90-day mortality
20 overlaying each other is not too surprising given
21 the fact that there was both dropout and drop-in
22 amongst the two treatment groups.

1 When you look at patients who were on
2 UMEC/VI, as Dr. Lettis said, there was an excessive
3 drop-out rate compared to the other arms, and
4 70 percent of those patients who dropped out went
5 on an inhaled corticosteroid, which would tend to
6 nullify any difference. So in order to test that
7 hypothesis, the slide you see here takes patients
8 who only remained on their assigned treatment. As
9 you can see, there's a good amount of daylight
10 between the two curves and not the overlapping
11 curves that occur in the ITT population, which was
12 in Figure 5.

13 Thank you. That's the end of my response.

14 DR. KELSO: Well, I appreciate that thought,
15 but obviously there are many important reasons that
16 we use intention-to-treat analyses, and I still
17 think that requires some manipulation after the
18 fact to get around that issue.

19 Can I see CR-17? I don't agree that the
20 SUMMIT trial really is providing supporting
21 information. It doesn't seem like a fair
22 comparison to compare something to placebo when our

1 other comparisons in the current trials are to
2 active treatment. But nonetheless, this is what a
3 gradual separation seems to look like over time, as
4 was the IMPACT trial. This just doesn't look like
5 the IMPACT trial.

6 I have another question, but I will conclude
7 for now and come back later, and let others speak.

8 DR. STOLLER: Very good. Thank you,
9 Dr. Tracy.

10 (Dr. Kelso previously spoke.)

11 DR. STOLLER: Dr. May has a question.

12 DR. MAY: Yes. Suzanne May. You can stay
13 on that slide that you just had up. That was
14 CR-17. I had a question regarding that.

15 Going back to the previous comment, this
16 seems not a fair comparison because we are
17 particularly concerned with withdrawal of the ICS,
18 and here it's the combination of FF/VI versus
19 placebo. And I was wondering whether there is a
20 similar slide having the two comparisons that
21 single out FF, meaning the comparison of FF versus
22 placebo and the comparison of FF/VI versus VI, to

1 show what the difference was for those two
2 comparisons that single out the FF.

3 DR. JONES: Thank you, Dr. May. Yes, we do
4 have that slide, and I'm going to ask Dr. Courtney
5 Crim to address your question. Thank you.

6 DR. CRIM: Yes, this is Courtney Crim,
7 pulmonary critical care physician in clinical
8 development at GSK. Before I address that, based
9 on the discussion we've been having, I think it's
10 important to just go back to the point that had
11 been raised previously in that the agency had
12 analyzed the ITT population from SUMMIT to ask this
13 committee to address whether it supported or
14 refuted the conclusions from IMPACT but, again, as
15 we all have mentioned, acknowledge the limitations
16 of those analyses.

17 It was for that particular reason that it's
18 important that if you're going to use any study for
19 comparison, when you consider the limitations of
20 such cross-study comparisons, the populations
21 should be as similar as possible, and likewise the
22 standard of care.

1 It is in this regard, as Dr. Jones
2 mentioned, it is important to recall that SUMMIT
3 only enrolled subjects with moderate airflow
4 limitation in contrast with IMPACT, which allowed
5 subjects with moderate to severe obstruction, and
6 SUMMIT did not require that exacerbation history,
7 where as that was a prerequisite in IMPACT. And it
8 is for those reasons that we feel it's more
9 appropriate to compare the subgroup in SUMMIT with
10 those who met the IMPACT inclusion criteria based
11 on exacerbation history.

12 So what I would like to do first is to bring
13 up the slides to show you the demographics of this
14 exacerbating population in IMPACT and SUMMIT so
15 that you can see how it compares with the IMPACT
16 inclusion criteria.

17 What I've highlighted in the boxes in green
18 is the lung function, which, as you would expect,
19 would be greater in the SUMMIT exacerbating
20 population because they were restricted to moderate
21 airflow limitation. But when you look at the
22 exacerbation data, also in green, the green box

1 above, again, you can see that we can deselect in
2 an exacerbating population.

3 So now go back to the slide that you had
4 requested. We will bring up, again, the slide that
5 includes the additional arms. What you can see,
6 again, which takes into account the slide that was
7 initially presented, is you have now all 4 arms on
8 the figure, so you can see the difference between
9 the FF/VI and placebo with the 34 percent
10 reduction. Also, getting to the committee member's
11 request while you also have this slide up, because
12 of the concern that the agency raised about the
13 effect of the steroid, you also see this direct
14 comparison between FF and placebo.

15 We believe that having this direct
16 comparison will provide more information than an
17 indirect comparison between FF/VI and VI. So now
18 you see this direct effect of the steroid, and
19 again you see a 35.7 percent reduction in mortality
20 in this exacerbating subgroup with a p-value of
21 0.010, and also an effect with the VI versus
22 placebo.

1 I would like to turn this back over to
2 Dr. Jones to see if she would like Dr. Wise to
3 comment.

4 DR. JONES: Thank you, Dr. Crim.

5 Yes, Dr. Wise, if you could add some
6 clinical perspective as well, that would be
7 terrific. Thank you.

8 DR. WISE: Yes. If you could bring up 2R-93
9 again, I can address that. In this study, in this
10 analysis, the exacerbating patients from SUMMIT,
11 the striking thing is that both the combination,
12 ICS/LABA, the ICS and the LABA, all independently
13 had about the same effect on improving mortality.

14 The way I would interpret this is that there
15 is basically a floor effect on mortality such that
16 in this more mild population, once you achieve a
17 certain benefit in terms of exacerbations, which is
18 translated into mortality, you can't do much
19 better. And this floor effect that you see in
20 SUMMIT probably represents what you might call the
21 natural mortality rate in these patients who also
22 had coronary disease and coronary risk factors.

1 But I do think that this does provide evidence that
2 the inhaled corticosteroid not only reduced
3 exacerbations but also improved mortality in these
4 exacerbating moderate patients.

5 DR. JONES: Thank you, Dr. Wise.

6 DR. MAY: Is it ok for me to speak?

7 Suzanne.

8 DR. STOLLER: Dr. May, yes.

9 DR. MAY: This is Suzanne May again. Would
10 you mind going back to that slide 93 that you just
11 had?

12 In the SUMMIT trial, I can see the
13 differences of each individual component as is
14 represented here. The one other question that I
15 had asked, that was not addressed as part of this
16 slide, was the comparison of FF/VI versus VI only.
17 That is more similar to comparisons that we're
18 looking at in IMPACT because in IMPACT we're
19 looking at dual and triple therapies.

20 So the comparison of FF/VI versus VI doesn't
21 seem to be a substantial addition, maybe due to the
22 fact that what you have mentioned is that there is

1 a floor effect. But just to point out, I believe
2 that the FF in addition to the VI does not seem to
3 have a substantial mortality benefit. Thank you
4 very much.

5 DR. STOLLER: Thank you, Dr. May.

6 Dr. Evans has a question.

7 DR. EVANS: Thank you. This is Scott Evans
8 at MD Anderson. I would like to understand from
9 the applicant whether they have a perceived
10 biologically plausible hypothesis for why we see
11 the early separation. I understand that there's a
12 suggestion that -- I'm sorry. And I'm referring to
13 the difference in mortality that appears to be
14 occurring at least by around day 40 when they split
15 out the ICS use at screening population.

16 I'm currently looking, on my computer, at
17 the slide that was labeled CO-45, which is similar
18 to what was presented in Dr. Lipson's Blue Journal
19 paper this year. I'm not sure -- yes, that's it
20 that you have on the screen now. As was raised by
21 Dr. Kelso earlier and was raised by the agency,
22 there seems to be a steep curve in those early

1 days, which to my eye seems to appear much earlier
2 than day 90. It sounds as if the applicant is
3 arguing that the subsequently parallel curves are
4 due to a healthy survivor effect perhaps.

5 To what does the applicant ascribe that
6 early benefit in terms of a biologically plausible
7 mechanism? That's my question. Thank you.

8 DR. JONES: Thank you, Dr. Evans. We have
9 discussed this and presented some information in
10 the presentations regarding the biological
11 plausibility and relating that to exacerbations; in
12 particular, severe exacerbations, hospitalizations.
13 In IMPACT, over the course of the study, there was
14 a 40-fold increase in the risk of dying during the
15 severe exacerbation, and in fact 41 percent of the
16 on-treatment deaths in IMPACT occurred during or
17 within 90 days of an exacerbation.

18 I'd like to ask Dr. Wise to give some of his
19 perspective around severe exacerbations and
20 mortality, and then I'll follow up with Dr. Lipson
21 for some of his perspective as well, including the
22 plausibility of an early effect on ACM.

1 DR. WISE: Yes. Well, I think that there's
2 no reason to think that a delayed benefit, in terms
3 of severe exacerbation, should not have an early
4 effect in reducing mortality. I don't think this
5 is a disease-modifying effect. I think this is
6 mediated through the reduction in severe
7 exacerbations, which is seen early in the study.

8 But I also want to address on this slide the
9 striking difference between the two groups; that is
10 to say those who were on ICS use at screening and
11 those who were not on ICS use at screening. The
12 striking thing when you look at the slides, of
13 course, is that there's separation of the curves in
14 terms of those who were using ICS, but not on those
15 who had not been using ICS at screening.

16 When you look a little more closely, though,
17 you'll notice that all three of the curves in the
18 patients who were not using ICS show a distinctly
19 lower mortality than the UMEC/VI group in the ones
20 using ICS.

21 This along with the reduced frequency of
22 exacerbations and severe exacerbations, the better

1 lung function, the fewer symptoms, and the reduced
2 beta agonists use all suggest that the patients who
3 were not on ICS were a distinctly healthier
4 subgroup; and therefore I don't think we can say
5 that we're doing the same experiment, either
6 withdrawal or addition of inhaled steroids, in the
7 same population, but rather this non-ICS population
8 represents a distinctly healthy subgroup where
9 they're going to be benefited regardless of the
10 treatment, very much like the floor effect that we
11 saw in the moderate patients in the SUMMIT trial.

12 Thank you.

13 DR. JONES: Thank you, Dr. Wise.

14 I'll move over to David for your
15 perspective, Dr. Lipson.

16 DR. LIPSON: Yes, thank you. David Lipson,
17 pulmonary critical care physician at GSK. I think
18 it's important that you're asking about the
19 biologic plausibility. Dr. Jones earlier presented
20 data from the Rothnie study showing the
21 relationship of exacerbation and risk for
22 mortality. In fact, the greatest risk of mortality

1 were those who had a hospitalized or severe
2 exacerbation.

3 So I'd like just to present some data from
4 the IMPACT study showing the effect of a severe
5 exacerbation during the trial on the risk of death.
6 What we've shown is that the hazard ratio for a
7 patient having a severe COPD exacerbation within
8 the trial was 41.2, representing a 40-fold increase
9 in the risk of death during or within certainly
10 90 days of a COPD exacerbation.

11 Therefore, a therapy that can reduce
12 moderate-severe exacerbations, and most importantly
13 severe exacerbations, by 34 percent in the trial,
14 we would expect to see a reduction in the risk of
15 death. Thank you.

16 DR. STOLLER: Thank you.

17 Dr. Ellenberg was next. I'm mindful that
18 we're trying to conclude this section by 11:15.
19 We'll have an opportunity to come back for
20 clarifying questions for the committee, but the FDA
21 will be asked to present at 11:15.

22 Dr. Ellenberg?

1 DR. ELLENBERG: Yes, Thank you.

2 Dr. Jones made a distinction between other
3 endpoints as described in their protocol and
4 exploratory endpoints, and noted that these other
5 endpoints were all prespecified. I've seen many
6 protocols list exploratory endpoints along with
7 primary and secondary, and I would like to know
8 what is the difference between an other endpoint
9 and an exploratory endpoint, and what was the
10 particular reason that they called these "other
11 endpoints" rather than "exploratory endpoints."
12 Thank you.

13 DR. JONES: Dr. Ellenberg, thank you for
14 your question. All-cause mortality was an other
15 endpoint. It was not an exploratory endpoint in
16 the IMPACT study. It was a predefined endpoint
17 with a predefined analysis plan and also a
18 committee to adjudicate the mortality events. So
19 this all-cause mortality here was an other endpoint
20 but was not an exploratory endpoint.

21 DR. ELLENBERG: So did you have any
22 exploratory endpoints in this study? You had a

1 number of other endpoints. The point is I do not
2 typically see the phrase "other endpoints." So did
3 you distinguish between other endpoints and
4 exploratory endpoints? I'm just exploring the
5 semantics here.

6 DR. JONES: Okay. I'm going to hand over to
7 Dr. Lipson who ran the study. We have primary
8 endpoints and few secondary endpoints, and then the
9 other endpoints were other, and they were all
10 related to the management of COPD.

11 Dr. Lipson?

12 DR. LIPSON: Thanks. David Lipson, GSK.

13 That's correct. What Dr. Jones said was
14 correct. We had our primary endpoints, secondary
15 endpoints, and other endpoints, and we did not have
16 any exploratory endpoints within the trial. But
17 all of the endpoints were designed to try to better
18 understand the patient's experience, efficacy, and
19 safety of Trelegy compared to dual therapies within
20 the trial. Thank you.

21 DR. JONES: Thank you, Dr. Lipson.

22 I think to clarify some things on

1 exploratory endpoints, there were none in the
2 IMPACT study, but generally exploratory endpoints,
3 when we do include them in studies, are there to
4 kind of inform us on future studies that we may do.

5 DR. STOLLER: Does that answer your
6 question, Dr. Ellenberg?

7 DR. ELLENBERG: It answers that question. I
8 have one other quick statistical question, if I
9 might.

10 DR. STOLLER: Please.

11 DR. ELLENBERG: And that is, I'm wondering
12 why there was no consideration of a multiplicity
13 adjustment for the comparison of the Trelegy arm to
14 the two dual therapy arms.

15 DR. JONES: Thank you, Dr. Ellenberg, for
16 that question. I'm going to ask Dr. Makuch, our
17 statistical consultant, to address the issue of
18 multiplicity. Thank you.

19 DR. MAKUCH: Thank you for the question.
20 Robert Makuch, biostatistics, director of the
21 regulatory affairs program and past special
22 government employee, FDA. Thank you for the

1 question.

2 It was not part of the prespecified analysis
3 to account for that comparison that you alluded to,
4 and I think, in general, it relates to the remark
5 that for overall mortality, it is the ultimate
6 stand-alone clinical endpoint of medical and
7 regulatory interest. So there was no adjustment as
8 you correctly pointed out. Thank you.

9 DR. ELLENBERG: Thank you.

10 DR. STOLLER: Very good. Dr. Tracy --

11 DR. JONES: Thank you very much -- oh, I was
12 going to say, do we want to move back -- Dr. Lettis
13 also has some comments that she'd like to make
14 around that question as well, if that's ok.

15 DR. STOLLER: We'll ask you to be brief, but
16 yes.

17 DR. JONES: Okay. Actually, it wasn't on
18 that question. It was on the SUMMIT curve that
19 people alluded to earlier, where they talked
20 about -- I think it was Dr. May who was talking
21 about the fact that it looked like FF/VI versus VI,
22 that there was no difference.

1 I do just want to point out that SUMMIT is
2 an event-driven trial and that you have very few
3 subjects still in the study after two years. If
4 you actually look -- and if we could maybe at some
5 point get the slide up later -- at the period of
6 around two years, there is still some separation
7 between FF/VI and VI. So I think you should be
8 very careful in interpreting those estimates out to
9 three years in the SUMMIT trial.

10 Thank you, Dr. Lettis.

11 DR. STOLLER: Good.

12 Dr. Tracy, you have a question.

13 DR. TRACY: Yes, it's actually fairly
14 simple. Can we go back to slide 93 just for a
15 second? I think it's OR-93.

16 If you look at placebo versus all the
17 treatment groups, it looks like pretty much
18 everything helps, whether you're doing FF/VI, VI,
19 or FF. If you look at this basic slide, it says if
20 you do something, you do good; you don't do
21 anything, you don't do good, which is definitely
22 given.

1 Then I want to circle back to slide 23. I
2 think that was with Dr. Jones. Let's circle back
3 to 23. It deals with the issue about the
4 separation with removal of ICS. I really want to
5 hear what she says about that again, because this
6 is a big deal with the agency, and I want to make
7 sure I understand her perspective on this. Let's
8 talk about the separation from the withdrawal of
9 ICS in that run-in period versus nothing. Help me
10 out here.

11 DR. JONES: Dr. Tracy, I just want to
12 clarify. So the question that you're asking -- I
13 just want to make sure -- is it around ICS
14 withdrawal in the first 30 days or the stabilized
15 COPD during the course of the study? I just want
16 to confirm that I've got the right question.

17 DR. TRACY: It's the former.

18 DR. WISE: I think it's QR-53.

19 DR. JONES: Oh, it's 53, not 23.

20 Okay, so ICS withdrawal. In the first
21 30 days -- again, I'm clarifying again. I want to
22 make sure it's ICS withdrawal.

1 DR. TRACY: Yes.

2 DR. JONES: Thank you. In the first 30
3 days, there were deaths in both the UMEC/VI and
4 FF/VI arms. There were no deaths in the Trelegy
5 arm. These patients were all taking an ICS prior
6 to randomization, and while there were fewer deaths
7 on the FF/VI arm compared to the UMEC/VI arm, we do
8 see deaths on those patients who were on and
9 continue to take an ICS through the study.

10 The patients who went through the trial of
11 an ICS had more severe disease, and that was
12 evidenced by more severe lung function and a higher
13 proportion of patients with hospitalized COPD
14 exacerbations prior to entering the study, and also
15 a higher rate of COPD exacerbations during the
16 study.

17 I think it is noteworthy that of the
18 7 patients who died on the UMEC/VI arm, only two
19 were related to COPD, one of which was a pneumonia,
20 suggesting that these patients did not have
21 uncontrolled COPD, which was then exacerbated by
22 the ICS withdrawal.

1 I would like to ask Dr. Wise as a member of
2 the IDMC to comment as well.

3 DR. WISE: Thank you, Dr. Jones.

4 This is Bob Wise. Could you put up QR-53?
5 Because I think that's what Dr. Tracy was looking
6 for.

7 Is this the slide you were looking at?

8 DR. TRACY: Yes.

9 DR. WISE: The way the agency has
10 interpreted this is that these were two different
11 experiments done in the same population. The slide
12 on the left were people who were using inhaled
13 corticosteroids and had withdrawal in the dual
14 bronchodilator arm, whereas in the experiment on
15 the right, these were patients who were not using
16 inhaled corticosteroids, and therefore had inhaled
17 corticosteroids added.

18 So these are like, in the agency's and
19 Suissa's paradigm, two separate experiments that
20 were done in the same population. But when you
21 look closely at the data, this population who were
22 not using ICS at baseline were a different

1 population. As Dr. Jones said, they had better
2 lung function, they had fewer exacerbations, they
3 had fewer symptoms, and there was less beta agonist
4 use.

5 So I would interpret this finding to mean
6 not that we were doing two experiments in the same
7 population, but really there was a subgroup that
8 was taken out in whom the benefits of inhaled
9 steroids were not apparent because they didn't need
10 them and their physicians were not treating them
11 with it, and they had a very good outlook in terms
12 of mortality.

13 But I certainly want to point out that the
14 fact that you can find a subgroup in a clinical
15 trial that does not respond to the therapy in no
16 way should be interpreted to mean that the entire
17 population does not respond overall to that
18 therapy. That's a bedrock principle of
19 interpreting clinical trials since the days of the
20 ISIS-2 study. Thank you.

21 DR. TRACY: I just thought I needed to
22 clarify that. It's a big piece of the agency's

1 perspective, and I wanted to make sure I had that
2 properly addressed. Thank you.

3 DR. STOLLER: Thank you.

4 This is Jamie Stoller. I'm aware there are
5 still some questions, but in the interest of
6 maintaining schedule, I will remind all of us on
7 the committee that have questions, that includes
8 me, we'll have an opportunity, hopefully, to ask
9 these questions after clarifying questions to the
10 FDA, time permitting, and perhaps in the afternoon
11 should that be necessary.

12 So let's turn now to the FDA presentation,
13 please.

14 **FDA Presentation - Banu Karimi-Shah**

15 DR. KARIMI-SHAH: Good morning once again.
16 This is Banu Karimi-Shah, and I will now provide
17 FDA summary remarks. The comprehensive prerecorded
18 presentations have been provided to the panel
19 members to view prior to today's meeting and also
20 have been posted to our website.

21 In this summary, I plan to give you the
22 highlights of the agency's presentation. These

1 slides will be familiar to the panel, as they have
2 been taken from the prerecorded slide deck.
3 Therefore, my presentation of these slides will be
4 abbreviated and focus on the salient points and
5 uncertainties in the application.

6 As has been previously reviewed by
7 Dr. Jones, this slide shows the proposed all-cause
8 mortality labeling claim for Trelegy Ellipta. With
9 this comparison, the applicant is asserting that
10 the efficacy on all-cause mortality is attributable
11 to fluticasone furoate, the ICS component.
12 Currently, there are no FDA-approved drug products
13 which improve all-cause mortality in COPD.

14 Let's begin with a high-level look at the
15 agency's presentation entitled, Overview of the
16 Clinical Program. This is a schematic of the
17 pivotal IMPACT trial. The typical design features
18 have been reviewed by the applicant. I would like
19 to highlight one key design issue here.

20 Subjects in IMPACT entered the study on
21 their prestudy medication regimen, continued these
22 medications during a 2-week run-in period, and then

1 were randomized to study drug. The change from
2 prestudy medication to the study drug occurred
3 directly at randomization. There was no washout
4 period of prestudy medication to assess for
5 clinical stability.

6 Given the clinical and statistical
7 uncertainties that arose during our review of
8 IMPACT, the agency looked to SUMMIT and TORCH for
9 confirmatory evidence to increase our ability to
10 rely on the evidence from this single trial. The
11 study design and enrolled patient populations are
12 summarized in this table across IMPACT, SUMMIT, and
13 TORCH.

14 IMPACT evaluated all-cause mortality over
15 one year, while SUMMIT and TORCH evaluated over
16 longer periods. Based on enrollment criteria,
17 IMPACT recruited a sicker COPD population who had
18 uncontrolled COPD despite maintenance medication.
19 TORCH and SUMMIT's inclusion criteria did not
20 require the same markers of disease severity and
21 did not require prestudy medication. IMPACT's
22 run-in continued prestudy medications until the day

1 of randomization. In contrast, SUMMIT and TORCH
2 required changes to any existing prestudy
3 medication regimen prior to randomization through
4 run-ins and other design elements.

5 Seventy-one percent of IMPACT's randomized
6 population had a prestudy medication regimen that
7 included ICS, and 38 percent were on prestudy
8 triple therapy. SUMMIT and TORCH had lower
9 proportions of prestudy ICS users. Seventy percent
10 of subjects in IMPACT were frequent exacerbators at
11 trial entry despite the use of these prestudy
12 medications. SUMMIT and TORCH had lower
13 proportions of frequent exacerbators.

14 I will now summarize the statistical review
15 of efficacy. The primary objective of IMPACT was
16 to understand the contribution of FF and UMEC to
17 FF/UMEC/VI on exacerbation endpoints. It was not
18 designed to assess all-cause mortality as a primary
19 or secondary objective. Because the study was
20 designed for exacerbation, it was not powered for
21 mortality. The study duration was one year, which
22 is typical for exacerbation studies. Historically,

1 however, studies evaluating mortality in COPD have
2 been of longer duration.

3 As already noted, IMPACT met its primary
4 exacerbation endpoint; furthermore, all key
5 secondary analyses were statistically significant.
6 I would like to use this slide to highlight the
7 first bullet.

8 All-cause mortality was one of many other
9 endpoints, most of which had two pairwise
10 comparisons and none of which were under strict
11 type 1 error control. This makes interpretation of
12 the results challenging, as every such additional
13 exploratory analysis conducted increases the
14 probability of observing p-values less than 0.05
15 purely due to chance.

16 This slide shows the overall all-cause
17 mortality results for IMPACT. The comparison of
18 FF/UMEC/VI versus UMEC/VI, assessing the
19 contribution of FF, revealed an estimated hazard
20 ratio of 0.72 with a 95 percent confidence interval
21 as shown and a nominal p-value of 0.042. While
22 this p-value is less than the commonly used

1 threshold of 0.05, this was an exploratory analysis
2 that was not under strict type 1 error control, so
3 the statistical and clinical significance of the
4 results are difficult to interpret.

5 This Kaplan-Meier plot uses the same data as
6 the previous slide and shows the probability of
7 death over the 52-week study, with the Y-axis
8 ranging from 0 to 3.5 percent. The blue line
9 represents the FF/UMEC/VI treatment arm, the red
10 line represents FF/VI, and the uppermost green
11 line, which has separated from the other two
12 treatment arms, is the UMEC/VI treatment arm.
13 These colors and the Y-axis will remain consistent
14 throughout my presentation of IMPACT.

15 We note the separation of the UMEC/VI and
16 the FF/UMEC/VI mortality curves over 52 weeks, but
17 notably there is an early separation as denoted by
18 the red arrow and bracket. This early separation
19 of the mortality curves was unexpected and is
20 inconsistent with previous trials that evaluated
21 inhaled corticosteroids in COPD over longer periods
22 of time, including SUMMIT and TORCH. I'll come

1 back to this early time frame later, but first
2 let's look at the overall results across trials.

3 SUMMIT and TORCH were both designed with a
4 primary objective of evaluating mortality through
5 primary analyses that were powered to detect
6 differences in mortality events between ICS/LABA
7 and placebo.

8 Both trials were of longer duration than
9 IMPACT. Neither of the two studies achieved
10 statistical significance in the primary analysis of
11 ICS/LABA versus placebo with respect to all-cause
12 mortality, but to look for supportive evidence for
13 a defective fluticasone on mortality that could
14 help us evaluate the signal in IMPACT, we turned
15 our focus to the comparisons that isolated the ICS
16 effect.

17 This slide shows all the treatment
18 comparisons from IMPACT, SUMMIT, and TORCH that
19 isolate the effect of fluticasone products. As
20 shown here, SUMMIT and TORCH had between 398 and
21 526 mortality events for each of the relevant
22 fluticasone comparison. In contrast, IMPACT

1 yielded only 164 mortality events. So SUMMIT and
2 TORCH provided roughly three times the amount of
3 statistical information as IMPACT, and therefore
4 had greater statistical power to detect an
5 all-cause mortality difference attributable to
6 fluticasone if it existed.

7 As illustrated by the hazard ratios and
8 confidence intervals for the ICS comparisons shown
9 here, SUMMIT and TORCH did not provide evidence of
10 an effect of the fluticasone product on mortality.

11 Next, given the early separation of
12 mortality curves, I discussed some exploratory
13 analyses of impact to examine this early time frame
14 of efficacy. The KM curve on the left shows the
15 same analysis of the all-cause mortality data at 52
16 weeks shown previously.

17 The right panel shows an exploratory
18 analysis where we eliminate the first 90 days and
19 look only at mortality occurring after day 90.
20 Notably, in the right panel, there is no separation
21 between the curves, suggesting that the observed
22 difference at trial completion may have been driven

1 by early events.

2 We acknowledge that these exploratory
3 analyses are subject to bias and
4 hypothesis-generating and that there are many
5 potential explanations for the observed early
6 separation of curves, including a real benefit, a
7 chance finding, or some other factor in the UMEC/VI
8 arm that may have influenced the early mortality
9 signal in this group.

10 Given the design of IMPACT, this other
11 factor could have been the effect of ICS removal in
12 the UMEC/VI arm. I will use this idea to segue
13 into the next portion of this summary presentation,
14 which summarizes the agency's clinical
15 considerations.

16 This is a useful schematic of how ICS
17 removal may affect trial comparison in a trial that
18 combines ICS naive and prestudy ICS subjects. This
19 figure shows that ICS-naive subjects entering the
20 trial could be randomized to either the addition of
21 ICS, which serves as the intervention, or placebo,
22 which serves as the control. This design is

1 typical of traditional add-on designs.

2 In contrast, subjects already on prestudy
3 ICS could either be randomized to ICS removal or
4 ICS continuation. Since their ICS status has not
5 changed, the arm that continues ICS could be
6 considered the control arm, while the arm that has
7 ICS removed would be the interventional arm.

8 These two interventions, ICS addition for
9 ICS-naive subjects and ICS removal for prestudy ICS
10 subjects, are fundamentally different for the
11 subjects going through a clinical trial. However,
12 many COPD trials, including IMPACT, SUMMIT, and
13 TORCH, have reported a result which combines the
14 subgroups.

15 This concept has been discussed by multiple
16 authors in the COPD literature, including Suissa,
17 et al., and some of these authors suggested that
18 effect estimates combining data from these two
19 subgroups were uninterpretable in the setting of
20 exacerbation data.

21 To apply these concepts to the IMPACT trial,
22 I will jump to the prestudy ICS subgroup. In this

1 schematic of the IMPACT trial, we can see that
2 subjects with prestudy ICS entered the trial and
3 continued their ICS through the run-in period, but
4 upon randomization, subjects randomized to UMEC/VI,
5 the LABA/LAMA arm, underwent an intervention of ICS
6 removal. In contrast, subjects randomized to
7 Trelegy Ellipta, the ICS/LABA/LAMA arm, continued
8 their ICS. This highlighted comparison isolates
9 the contribution of the ICS, and in the case of
10 this subgroup, of the removal of ICS.

11 This prestudy ICS subgroup comprises
12 71 percent of the subjects in IMPACT, meaning this
13 subgroup contained over 7,000 subjects and nearly
14 1500 were randomized to ICS removal in the UMEC/VI
15 arm. In the proposed claim, the effect on
16 all-cause mortality is being attributed to FF, the
17 ICS component of Trelegy Ellipta.

18 However, given the protocol mandated ICS
19 removal for those subjects on prestudy ICS
20 randomized to the UMEC/VI arm, an existing
21 published literature describing the effects of ICS
22 treatment and removal in COPD, we explored the

1 effect of prestudy therapy and ICS removal in
2 IMPACT.

3 To do this, we examined the probability of
4 all-cause mortality by prestudy medication
5 subgroup. The Kaplan-Meier curve displaying the
6 all-cause mortality results for the prestudy ICS
7 subgroup is pictured here. In this left panel, we
8 can see that the separation between the UMEC/VI
9 Trelegy Ellipta arm suggests a difference in
10 mortality that develops in approximately the first
11 90 days as denoted by the black arrow.

12 Since all subjects in this analysis entered
13 the study on ICS, those randomized to UMEC/VI had
14 ICS removed. Those randomized to Trelegy continued
15 ICS. These data raise the possibility that ICS
16 removal may have led to increased mortality events
17 among these COPD subjects in the UMEC/VI arm,
18 rather than fluticasone improving mortality in the
19 Trelegy arm.

20 In the right panel, among ICS-naive subjects
21 in which randomization to Trelegy meant the
22 addition of ICS, we see no separation. We

1 acknowledge the exploratory nature of this analysis
2 and that the ICS-naive subgroup is underpowered to
3 show a difference in mortality. However, even a
4 trend in this subgroup would help support the idea
5 that the overall analysis result was not driven
6 primarily by effects of ICS removal.

7 Given the different behavior of the
8 subgroups, we tested for the presence of a
9 statistical interaction between prestudy ICS and
10 treatment for the FF/UMEC/VI versus UMEC/VI
11 pairwise comparison, which resulted in a p-value of
12 0.08. These results, again while exploratory in
13 nature, suggest that the overall population
14 mortality results may be difficult to interpret and
15 that it may be more appropriate to analyze the
16 prestudy ICS subgroups separately.

17 In this table, we attempted to quantify the
18 effect of ICS removal and addition across IMPACT,
19 SUMMIT, and TORCH by focusing on the ICS
20 comparison. Here, we look at ICS removal data from
21 the prestudy ICS subgroups at the day 90 time
22 point, but the hazard ratio is inverted to describe

1 ICS removal as the intervention and ICS
2 continuation as the control.

3 We acknowledge once again that these
4 exploratory analyses are subject to the bias.
5 Despite the limitations of potential underpowering,
6 differences in trial design, enrolled population,
7 and fluticasone products between these trials, in
8 each of these trials, we observed an increased risk
9 of all-cause mortality after ICS-removal events at
10 90 days in comparisons that isolate the effect of
11 the ICS.

12 In contrast, with respect to ICS addition,
13 we see that the effect estimates for nearly all of
14 these fluticasone addition comparisons hover around
15 1. These ICS-naive subgroup data from SUMMIT and
16 TORCH reinforce the ICS-naive subgroup data seen in
17 IMPACT; and despite these ICS-naive subgroups
18 including over 1700 subjects in IMPACT, almost
19 11,000 subjects in SUMMIT, and almost 3,000
20 subjects in TORCH, we see that the data from all
21 three trials is consistent, suggesting that the
22 addition of fluticasone product did not improve

1 mortality.

2 With these data discussed, we can move to a
3 summary of my charge to the committee. In my
4 charge to the committee, I reviewed the regulation
5 which requires substantial evidence to support a
6 labeling claim or new indication. The regulations
7 governing determinations of effectiveness are
8 further described in guidance documents from the
9 agency.

10 The GOLD standard is evidence from at least
11 two adequate and well-controlled studies; otherwise
12 in some specific setting, a finding of substantial
13 evidence of effectiveness can be made based on one
14 adequate and well-controlled clinical
15 investigation, plus confirmatory evidence.

16 Key factors to allow for such a
17 determination include the persuasiveness of
18 evidence from a single study and the robustness of
19 confirmatory evidence. The guidance indicates that
20 reliance on a single study should be limited to
21 situations in which the trial has demonstrated a
22 clinically meaningful and statistically very

1 persuasive effect. In that light, there is often
2 an expectation of evidence of an effect at a
3 statistical significance level considerably lower
4 than 0.05 when a proposed effectiveness claim
5 relies on results from a single study.

6 There are a number of issues that raised
7 concern about the ability of the results from the
8 IMPACT trial to support an all-cause mortality
9 claim for Trelegy Ellipta. Here is a bulleted list
10 of the efficacy considerations, which I have
11 summarized in this presentation:

12 The statistical uncertainty of the ACM
13 results in IMPACT, given that it was a single trial
14 without strict type 1 error control; the inability
15 of the evidence from SUMMIT and TORCH, two trials
16 of longer duration designed to evaluate all-cause
17 mortality, to provide confirmatory evidence despite
18 their greater statistical power; the early time
19 frame of efficacy in IMPACT, which would be
20 unexpected for ICS given what is known but not
21 inconsistent with ICS removal;

22 The effect of ICS removal across studies.

1 The data from IMPACT, as well as SUMMIT and TORCH,
2 suggest that subjects with prestudy ICS therapy,
3 who had ICS removed by randomization, had a
4 clinically significant increased risk of death by
5 day 90. Addition of fluticasone in ICS-naive
6 subjects did not suggest a mortality benefit for
7 ICS addition;

8 And finally, the generalizability to
9 clinical practice in which healthcare providers are
10 considering the benefit of adding a therapy.
11 Seventy percent of patients in IMPACT entered this
12 study on prestudy ICS and could be randomized only
13 to ICS removal or ICS continuation, but not ICS
14 addition.

15 Because of this, it is uncertain whether the
16 IMPACT trial is able to answer the clinically
17 relevant question of whether the addition of
18 fluticasone furoate to UMEC/VI, in a subject
19 without previous ICS therapy, will decrease
20 all-cause mortality in COPD.

21 To focus your deliberations on each of these
22 points, we present each of these issues in the

1 following discussion questions followed by a single
2 voting question. I will briefly review these now,
3 and these will be displayed again to facilitate
4 your discussion this afternoon.

5 The first question asks the committee to
6 discuss the persuasiveness of the data in the
7 IMPACT trial to support the claim that fluticasone
8 furoate as a component of Trelegy Ellipta improves
9 all-cause mortality in COPD. In order to
10 facilitate your discussion, we have included a
11 number of elements that we would like you to
12 include in your discussion.

13 The second question asks the committee to
14 discuss the implications of prestudy ICS use and
15 ICS removal on the interpretation of the all-cause
16 mortality data in the IMPACT trial. Once again we
17 have provided certain elements that we would like
18 you to include in your discussion.

19 The third discussion question asks the
20 committee to consider the generalizability of the
21 IMPACT data to relevant clinical practice decisions
22 about fluticasone furoate as add-on therapy in

1 COPD. Again, there are several elements that we
2 would like for you to include in your discussion
3 listed.

4 Finally, a single voting question of whether
5 the data from the IMPACT trial provides substantial
6 evidence of efficacy to support the claim that
7 Trelegy Ellipta improves all-cause mortality in
8 patients with COPD. If you vote no, we ask you
9 what further data would be needed.

10 Thank you for your attention. We can now
11 move on to the clarifying questions to the agency.
12 You may refer to any slides that were either
13 presented here this morning or that were provided
14 to you as part of the prerecorded presentation.

15 Please remember to refer to the name of the
16 presenter, the title of the presentation, and the
17 slide number when making your request. From the
18 agency, Dr. Robert Busch; Ms. Susan Duke;
19 Dr. Gregory Levin; Dr. Yongman Kim; and Dr. Sally
20 Seymour, and I will be responding to your
21 questions.

22 Dr. Stoller, I turn the meeting back to you.

1 **Clarifying Questions to the FDA**

2 DR. STOLLER: Thank you very much,
3 Dr. Karimi-Shah.

4 We will of course, as said, now take
5 clarifying questions. Again, please use the
6 raised-hand icon to indicate you have a question.
7 Remember to put your hand down after you've asked
8 your question. Please remember to state your name
9 for the record and direct your question to a
10 specific presenter if you can.

11 If there is a specific slide you wish to be
12 displayed, as said, please specify the
13 presentation, presenter, and slide number. And
14 again, it would be helpful to acknowledge the end
15 of your question with a thank you or end of any
16 follow-up question with, "That's all for my
17 questions," so we can move on to the next
18 presenter.

19 So with that as a background, the first hand
20 raised is I believe Dr. Marshall.

21 DR. MARSHALL: Thank you, sir. I'd like to
22 ask two hopefully very specific clarifying

1 questions.

2 Dr. Karimi-Shah, if you would respond, I
3 would be grateful. The first question is, in
4 listening to the response and looking up the issues
5 in the change in mortality risk for IMPACT versus
6 SUMMIT and TORCH, is it the contention or position
7 of the agency that a one-year duration reduced
8 mortality is less clinically significant than a
9 multiyear?

10 In the argument that while there may be
11 statistics, I think most clinicians would be very
12 interested in a therapy that could reduce,
13 substantially reduce, mortality even for one year,
14 if not for multiyears.

15 DR. KARIMI-SHAH: This is Dr. Karimi-Shah.
16 Thank you for your question, Dr. Marshall. It
17 isn't so much a contention that a one-year trial is
18 less clinically significant than a multiyear trial
19 for a mortality claim. The point that I was trying
20 to make in that slide, and that we've made in our
21 briefing document and in our other presentations,
22 was more of the plausibility of seeing a difference

1 over a one-year trial than rather these multiyear
2 trials, which have been done in the past.

3 So that was really the point that that slide
4 was trying to make. Obviously, if there was
5 clinical certainty in the effect over a one-year
6 trial, I think that would of course be clinically
7 significant, but it's more about the plausibility
8 of seeing the effect over one year, given the
9 uncertainties that we have with the application.

10 DR. MARSHALL: Thank you. The second
11 question I hope is well focused. If it's generally
12 agreed, in the sense that I heard in both the
13 original presentations that we reviewed and your
14 comments this morning, that the FDA as well as the
15 sponsor would agree that ICS removal in these more
16 severe patients was associated with increased
17 all-cause mortality -- the argument being that the
18 ICS is typically not added until the disease
19 progresses further and multiple presentations and
20 comments about the old risk for pneumonia that has
21 been back and forth for many years -- the question
22 is, is there a difference in the thinking of the

1 agency if, say, something as straightforward as the
2 claim severe COPD was used as opposed to just COPD
3 across the board?

4 I'm an allergist-immunologist, but we are
5 starting to take care of COPD earlier in the course
6 of the illness. Our pulmonary colleagues take care
7 of them later in the period we typically don't take
8 care of people. We don't go to the ICU, et cetera,
9 but we certainly are interested in therapies that
10 would slow down the progression of this ultimately
11 fatal disease.

12 The question is, with these more severe
13 individuals, that when ICS is withdrawn, the events
14 start to increase, and by extension, their
15 mortality risk starts to increase, is it the term
16 "COPD inclusive" or is it a subgroup that you would
17 refer to as severe COPD that would make the
18 difference? I'm just trying to clarify the agency
19 position on this.

20 DR. KARIMI-SHAH: Yes, Dr. Marshall. Thanks
21 for that question. In order to answer your
22 question about this, I'm going to turn it over to

1 Dr. Robert Busch.

2 DR. BUSCH: Hi. This is Rob Busch, FDA.
3 I'm going to try and answer your question because
4 my understanding of your question is, is the
5 severity argument presented by the sponsor
6 compelling, and then how could we incorporate that
7 perhaps into how we describe the data. I'm going
8 to ask for the backup slide deck, slide 5, and then
9 6, but we'll start with backup slide deck, slide 5
10 to come up.

11 The severity issue is an interesting
12 question. We've had a lot of discussions about it
13 internally because the applicant presented the same
14 explanation for the disparate results in the
15 subgroups of IMPACT early in our review cycle; and
16 the use of prestudy ICS and severity are somewhat
17 correlated, as has been mentioned. But I think
18 there are some issues with this explanation that
19 might limit how we would apply this, for example,
20 in a labeling setting, which is not the proposed
21 labeling that is being asked for now.

22 First, when we presented these subgroup

1 data, we asked you to look at each subgroup on its
2 own and draw conclusions from the prestudy ICS and
3 ICS-naive subgroup separately, almost like looking
4 at two separate trials because each subgroup had
5 different functional interventions.

6 We heard Dr. Wise say that we were asking
7 you to see this as two separate trials in the same
8 population, but I don't think that's what we're
9 asking you to do. While we are asking you to look
10 within subgroup comparisons where severity across
11 the arm should be equal or comparable, we're not
12 asking you to make any sort of formal across
13 subgroup comparisons; not only because they had
14 some differences in severity, but more importantly
15 because subjects in each subgroup had very
16 different interventions imposed upon them at
17 randomization.

18 In my opinion, the only time an
19 across-subgroup comparison is being made is the
20 implicit comparison of accepting the combined data
21 into an overall analysis, because when we do this,
22 we're assuming that the patient groups and

1 interventions are similar enough to throw together
2 and give a single point estimate of effect. Also,
3 the severity argument completely sidesteps the
4 fundamental point that ICS was removed from some
5 patients and not from others.

6 If you could bring up the backup slide deck,
7 slide 7 -- oh, excuse me. Could you bring up
8 slide 6 from this backup slide deck? It should
9 just be the next one. This is the "within"
10 subgroups. The ones in the red boxes, you can see
11 that across the arms -- excuse me. Within each
12 subgroup, the arms are somewhat similar in
13 severity, so the comparison should be somewhat
14 valid for lack of a better term.

15 Could you bring up slide 17 from the backup
16 slide there? Again, the severity argument I think
17 sidesteps on the fundamental point, is that we're
18 talking about ICS removal as an intervention. As
19 we've talked about, the prestudy triple therapy
20 subgroup could not have had any drug class added at
21 randomization, only drug classes taken away or
22 continued.

1 So it's hard to see how we can interpret the
2 difference in the subgroup as a benefit from
3 addition rather than harm after drug removal. As
4 you mentioned, it seems inconsistent with current
5 practice to say that we see a patient on triple
6 therapy who still has uncontrolled symptoms and
7 frequent exacerbations, and then we decide or jump
8 to a decision about ICS removal.

9 The same concerns apply to that prestudy ICS
10 subgroup because attributing the observed effects
11 in the prestudy ICS subgroup to severity difference
12 still avoids the fundamental point that mortality
13 events observed in the UMEC/VI arm compared to
14 FF/UMEC/VI are from a comparison where the only
15 difference between arms is that the subject in the
16 UMEC/VI arm had ICS removed.

17 Finally, one last point. You asked a direct
18 question; I apologize. The data argument that I
19 think, again, takes away from this issue of
20 severity and how we would potentially interpret
21 that in labeling is the sponsors proposed -- excuse
22 me. The subgroups we see in IMPACT and how we

1 presented those data, we see these early risks of
2 death due to ICS removal across the prestudy ICS
3 subgroups of all three trials, IMPACT, SUMMIT, and
4 TORCH, and we see no effect or sort of a flat
5 effect of ICS addition over all three trials,
6 IMPACT, SUMMIT, and TORCH.

7 So each of the prestudy subgroups in these
8 trials has a different severity, and each of the
9 ICS-naive subgroups in each of these trials has a
10 different severity, and both other trials, even at
11 ICS-removal run-in scenarios, that may have
12 eliminated the most vulnerable patient.

13 So if were just a severity difference in the
14 prestudy ICS subgroup of IMPACT, which admittedly
15 has the highest severity, then why are we seeing
16 that similar pattern across all three trials, and
17 especially in SUMMIT?

18 So I think the severity argument is
19 difficult to justify in the face of the data from
20 those other trials and again avoids the fundamental
21 point of ICS removal occurred and how do we judge
22 that. So I think it would be very difficult to

1 incorporate that and craft labeling around that
2 without dealing with that generalizability issue
3 and the ICS removal directly. Sorry for the long-
4 winded reply.

5 DR. MARSHALL: Oh, no. Thank you both very
6 much.

7 DR. STOLLER: Thank you.

8 I think Dr. Tracy is next, please.

9 DR. TRACY: No, I'm good. Thank you.

10 DR. STOLLER: Dr. Ellenberg?

11 DR. ELLENBERG: Yes, thank you.

12 I'm not sure who wants to answer this. My
13 question is about, actually, the GSK slide 23 and
14 24 that purport to show no difference in worsening
15 in lung function, according to whether or not
16 people were on an ICS beforehand. Dr. Jones made
17 the argument that this undercuts any concern that
18 those who had ICS withdrawn were at greater risk
19 because the lung function was about the same, and I
20 wanted to know what the FDA's perspective was on
21 that argument. Thank you.

22 DR. BUSCH: I can try and take that one as

1 well. This is Robert Busch from FDA. In COPD, I
2 think that FEV1 and exacerbations are somewhat
3 different measures, and this is recognized by
4 international guidelines such as the GOLD
5 guidelines which have separate severity scales for
6 FEV1 and exacerbation history. Looking at FEV1
7 does not necessarily refute the premise that we
8 brought up if you maybe buy into the idea that
9 severe exacerbations lead to death, which I think
10 that association is certainly present in the
11 literature. FEV1 is a factor, but I'm not sure
12 that the FEV1 data refute what we're saying.

13 Also, the graphs that Dr. Jones presented
14 show you the mean numbers for FEV1 and SGRQ. This
15 is a fair comparison of everyone, but it doesn't
16 capture the decline that could be seen in
17 individual patients, and especially in those who
18 died. So something like a responder analysis of
19 the proportion of patients who had significant
20 decline in these parameters might be more
21 appropriate in this case, but we didn't ask for
22 that, and it might be unfair to look at only the

1 subjects who died since we know that these subjects
2 could represent the greatest decline in the study.

3 So that's I guess the main points of why we
4 acknowledge these results, but I'm not sure that it
5 refutes what we're talking about.

6 DR. ELLENBERG: What about the other measure
7 on slide 24?

8 DR. BUSCH: I believe that's the SGRQ, but
9 I'll wait for it to appear. My screen is spinning,
10 but if somebody could confirm to me whether that
11 slide represents the St. George's Respiratory
12 Questionnaire results.

13 DR. JONES: This is Dr. Jones from GSK.
14 Yes, it does.

15 DR. BUSCH: Thank you, Dr. Jones. I
16 appreciate that.

17 SGRQ, the St. George's Respiratory
18 Questionnaire, incorporates a lot of different
19 measures on some level in a patient-reported
20 outcome. So I think that that one, we could
21 interpret some of those data differently, and they
22 may have more bearing on exacerbation certainly

1 than FEV1 perhaps, but also potentially on how the
2 patient is feeling from a holistic perspective.
3 But even in that situation, what I was mentioning
4 earlier about mean numbers across the entirety of
5 the trial versus individual patients that did much
6 worse, I think would still be worth thinking about.
7 So it's hard to say exactly what to make of those.

8 DR. ELLENBERG: Thank you.

9 DR. STOLLER: Great. This is Dr. Stoller.
10 I have a question that follows up on
11 Dr. Ellenberg's point. It strikes me that the
12 primacy of the issue here, if we're looking at the
13 consequences of ICS withdrawal, relate to the
14 consequences of ICS withdrawal. The confusion that
15 I have is about a couple of things. One is the
16 data that we just addressed about FEV1, SGRQ, as
17 well as I think in the sponsor's slide on UMEC
18 mortality, the continuous accumulation of mortality
19 over time.

20 So perhaps, Dr. Busch, can you again try to
21 help me understand if the mortality effect were
22 related to ICS withdrawal, I would expect clinical

1 metrics to be in the direction of deterioration,
2 and I would have expected the mortality events to
3 occur relatively acutely given the temporal
4 relationship between hospitalization for severe
5 exacerbation and mortality, which is generally
6 clinically observed. So I'm struggling with that
7 discordance between the functional physiologic and
8 accumulated mortality data compared to the 90-day
9 window on ICS withdrawal.

10 Is my question clear?

11 DR. BUSCH: I think so, although -- this is
12 Rob Busch. I think it's definitely clear. It is
13 certainly a difficult one to answer, so let me give
14 it a shot, though. I'm going to pick it apart into
15 perhaps two separate points.

16 First, can we bring up backup slide deck,
17 slide 14? The sponsor presented data for cause of
18 death in the first 30 days, and while the sponsor's
19 statement about the first 30 days is correct, it's
20 not clear that 30 days should be the absolute
21 cutoff for this analysis, nor the 90 days should be
22 necessarily.

1 In the Kaplan-Meier curve, we saw different
2 behavior of the UMEC/VI curve up until
3 approximately day 90, and that's what you're
4 mentioning, Dr. Stoller. If we look at the death
5 narratives and adjudication among subjects who had
6 ICS removed during that time frame, we would get
7 the results on this slide.

8 I want to mention here, though, that drawing
9 important conclusions from cause of death and death
10 narratives in clinical trials should be approached
11 with caution. Again, we have to be careful since
12 the actual cause of death can be difficult to
13 ascertain, especially if the event is unwitnessed
14 or happens outside of a healthcare facility. We
15 can talk about that more. This is definitely not a
16 criticism of GSK data collection on the subject,
17 but a comment that applies broadly across all
18 clinical trials.

19 But as we can see on this slide, within the
20 first 90 days, 5 subjects in the prestudy ICS
21 subgroup who were randomized to ICS removal in the
22 UMEC/VI arm had a cause of death attribution that

1 explicitly lists COPD or COPD exacerbation compared
2 to zero in the FF/UMEC/VI arm, where ICS was
3 continued. If we expand these terms a little more
4 to be a little more inclusive, to include terms
5 like "respiratory failure" and then again to
6 include terms like "cardiopulmonary arrest," we see
7 a similar trend.

8 So again, I want to advise caution about
9 this and about taking these cause of death
10 narratives as gospel, but these data may still
11 suggest that COPD exacerbations may have played a
12 role in at least 5 death events by day 90 in the
13 ICS-removal arm.

14 That's one part. Another part is despite
15 our uncertainties surrounding the ICS removal in
16 IMPACT, and the way these data may or may not
17 support the idea, it's also important to note that
18 GSK is seeking a claim for all-cause mortality, not
19 respiratory-related mortality. So FEV1 did go up,
20 did not go up, did go down, did not go down. If we
21 focus more on the mortality data, the exact cause
22 of those I think is very difficult to discern.

1 There was another part of your question that
2 I think I've gotten away from. I believe I
3 answered one part about why didn't these
4 events -- or why don't we see some of these effects
5 of these events in the data and in the time frame,
6 but there was another part to your question.

7 Could you repeat it, please?

8 DR. STOLLER: Sure. I think you're
9 suggesting that in fact there was a consequence of
10 ICS withdrawal here. The other dimension was the
11 continuity of mortality over time in the UMEC/VI
12 population that we showed in the sponsor's slide.
13 It's not as though there was a bolus of deaths in
14 the first 90 days, but deaths accrue over time.
15 And I wonder if you have a response to that in the
16 context of the hypothesis that ICS withdrawal was
17 the causal event to the mortality.

18 DR. BUSCH: Okay. Thank you. I understand.

19 This is part of the reason why we asked for
20 the after day 90 data, understanding that there are
21 plenty of limitations as we've discussed a few
22 times. In this case, we have to think about how

1 the difference develops. If you look at the data
2 after day 90, they're pretty flat. So it is not
3 that these few events were the only events in the
4 data -- oh, actually, could we bring up Clinical
5 Considerations, slide 15?

6 Again, we had discussions about how these
7 early events might affect the mortality
8 interpretation, and it's true that they represent a
9 minority of the mortality events in the trial, so
10 sorry, I'm on track now. But the reason we find
11 the data within the first 90 days compelling are
12 sort of twofold.

13 First, the signal for that early mortality
14 after ICS removal is present in all three trials.
15 It's a few mortality events, but we keep seeing
16 that sort of signal, which raises doubt about the
17 idea that this is just a chance finding.

18 Then second, and perhaps more importantly,
19 even though these early events are few in number,
20 it's the only period where a difference between the
21 study arms is clearly established, as we can see on
22 the slide that we talked about just a moment ago,

1 which is after 90 days. So that is summary slide
2 deck slide 16; if you could pull that up? And
3 we've seen that previously.

4 This does not appear to be the -- the first
5 slide was Clinical Considerations, slide 15, and if
6 that's this, I apologize, and we'll figure it out.
7 This is Clinical Considerations. It appears that
8 this is the overview of the clinical program slide
9 deck, I believe, if I remember my own slides.

10 Could you bring up the Clinical
11 Considerations, slide 15?

12 DR. BAUTISTA: Hi, Dr. Busch. This is Phil
13 Bautista, the DFO. Give us one second, and we'll
14 bring it up for you.

15 DR. BUSCH: Sorry. No problem.

16 Well, I'll keep talking about the other
17 point briefly just to make sure that we get through
18 stuff. I know we're on a time crunch.

19 Again, the data after that first 90 days,
20 while it contains the bulk of the mortality events,
21 those mortality events then don't differ across the
22 arms. And again, ICS removal and severity are

1 somewhat linked, but if we don't see any effect
2 after day 90, it's difficult, given that we have
3 these ICS-removal events, and we see this effect
4 within the first 90 days.

5 So we felt that we needed to discuss these
6 early mortality events among the ICS-removal
7 subjects and the analyses of those subjects in such
8 detail because they drive the mortality difference
9 observed at trial end, so they're a big part of the
10 difference the applicant is relying upon for the
11 claim.

12 DR. STOLLER: Fair enough. That answers my
13 question.

14 I'm aware we have one minute left, and Ms.
15 D'Agostino has the last clarifying question for the
16 FDA, please?

17 MS. D'AGOSTINO: Thank you. My question is
18 quick, so that's perfect. This is Emma D'Agostino.
19 Given that one of the main concerns is about the
20 lack of type 1 error control, have you done
21 multiplicity corrections for all-cause mortality
22 just to see what would happen to the p-value, maybe

1 using the same strategy that was used for the
2 primary endpoint?

3 DR. LEVIN: This is Greg Levin. I didn't
4 introduce myself earlier. I'm the deputy director
5 for the Division of Biometrics III. I'm a
6 statistician at FDA.

7 If you did any sort of multiplicity
8 adjustments to handle the long list of exploratory
9 endpoints, and if you were comparing it to a
10 threshold of 0.05, it would not meet that threshold
11 quite quickly. We did not do that. We think there
12 are additional factors here beyond that, such as
13 the level of evidence that one needs to support a
14 claim based on the single study and some of the
15 other uncertainties.

16 But to directly answer your question, any
17 sort of multiplicity adjustment, when you have a
18 p-value of 0.042 and you have the number of
19 comparisons that were looked at here, would quite
20 quickly send the p-value above a 0.05 threshold if
21 that's the threshold you were utilizing.

22 MS. D'AGOSTINO: Okay. Thank you.

1 DR. STOLLER: So I'm aware we're at 12:05.
2 I'm also aware that there were many questions, both
3 to the sponsor and to the FDA, that have not yet
4 been addressed, and my hope is that those who've
5 asked, and we've recorded who you are, can frame
6 those questions perhaps in the discussion of the
7 clarifying questions after the open public hearing.
8 So as we are now at 12:05, we will break for lunch.
9 We will reconvene in 45 minutes, at 12:50 p.m.
10 Eastern Standard Time.

11 Panel members, please remember there should
12 be no chatting or discussion of the meeting topics
13 with other panel members during the lunch break.
14 Additionally, you should please plan to rejoin at
15 about 12:35 p.m. to assure that you are connected
16 before we reconvene at 12:50 p.m.

17 Thank you. We're on break. We'll reconvene
18 at 12:50 with the open public hearing. Thank you
19 very much.

20 (Whereupon, at 12:07 p.m., a lunch recess
21 was taken.)
22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

A F T E R N O O N S E S S I O N

(12:52 p.m.)

Open Public Hearing

DR. STOLLER: Well, let me welcome everyone back. We will now begin the open public hearing session.

Both the FDA and the public believe in a transparent process for information gathering and decision making. To ensure such transparency at the open public hearing session of the advisory committee meeting, FDA believes that it's important to understand the context of an individual's presentation.

For this reason, FDA encourages you, the open public hearing speaker, at the beginning of your written or oral statement to advise the committee of any financial relationship you may have with the sponsor, its product, and, if known, its direct competitors. For example, this financial information may include the sponsor's payment of your travel, lodging, or other expenses in connection with your participation in the

1 meeting.

2 FDA encourages you at the beginning of your
3 statement to advise the committee if you do not
4 have any such financial relationships. If you
5 choose not to address this issue of financial
6 relationships at the beginning of your statement,
7 it will not preclude you from speaking. The FDA
8 and the committee place great importance in the
9 open public hearing process. The insights and
10 comments provided can help the agency and this
11 committee in their consideration of the issues
12 before them.

13 That said, in many instances and for many
14 topics, there will be a variety of opinions. One
15 of our goals today is for this open public hearing
16 to be conducted in a fair and open way, where every
17 participant is listened to carefully and treated
18 with dignity, courtesy, and respect. Therefore,
19 please speak only when recognized by the
20 chairperson. Thank you for your cooperation.

21 Speaker number 1, your audio is connected
22 now. Will speaker number 1 begin and introduce

1 yourself, please? Please state your name and any
2 organization you are representing for the record.

3 DR. HAN: Hello. My name is MeiLan Han, and
4 I'm representing myself today. I am a professor of
5 pulmonary -- [inaudible - audio gap] -- at the
6 University of Michigan in Ann Arbor, and in full
7 disclosure, I have also consulted for
8 GlaxoSmithKline, as well as multiple other
9 pharmaceutical companies, including AstraZeneca
10 that also makes a triple therapy product for COPD,
11 and the ETHOS study, which is also of relevance. I
12 have also participated in the external steering
13 committees for the IMPACT study program, but I'm
14 also, therefore, quite familiar with the Trelegy
15 data.

16 The question at hand today is whether
17 Trelegy demonstrates clear mortality benefit in
18 COPD as compared to LAMA/LABA in the particular
19 patient population that was studied in IMPACT.
20 These are highly symptomatic individuals that were
21 at high risk for exacerbation.

22 Since submitting my original comments, I've

1 had the chance to review the FDA briefing document
2 for this meeting, and the FDA is to be commended
3 for the meticulous review and reanalysis of the
4 existing data. I would like to point out, however,
5 that significant emphasis in this report is placed
6 on the number of deaths occurring directly after
7 inhaled corticosteroid removal.

8 I am intimately familiar with the data, as I
9 have mentioned, and what we've found is that
10 patients who had received ICS before entering the
11 trial do seem to be a unique patient population and
12 really did need inhaled corticosteroids. This was
13 the group that saw, for instance, the greatest
14 exacerbation benefit during the study.

15 I would like to point out when we're looking
16 at mortality, however, that in some ways it becomes
17 a bit of a circular argument; whether patients die
18 or experience exacerbations because the medication
19 was removed or they experience benefit because the
20 medication was added. To me, the resulting
21 interpretation is the same, and that is that in
22 select patients, triple therapy improves

1 exacerbations, as well as improves survival.

2 Now, I know there's a lot of data that
3 you're wading through today and interpreting data
4 in isolation such as this I think is quite
5 difficult. And while I'm not on the panel today, I
6 know that if I were, I would ultimately want to be
7 on the right side of history.

8 So while I realize it's not being discussed
9 today, I would like to emphasize to the panel that
10 the ETHOS program sponsored by AstraZeneca -- this
11 data is also fully publicly available, which has a
12 different study design and does not have inhaled
13 corticosteroid withdrawal as an issue to contend
14 with -- shows the exact same mortality benefit for
15 triple therapy in a very similar COPD patient
16 population as compared to LABA/LAMA.

17 So for me, taking both sides into account,
18 the data is actually highly convincing. In
19 weighing the decisions today, I think it is also
20 important to remember that we're not really looking
21 at the safety or efficacy of a new medication, but
22 rather the question at hand is whether something

1 that's already approved is beneficial among highly
2 symptomatic individuals at high risk for
3 exacerbations with respect to mortality.

4 The final thought that I wanted to leave the
5 panel with is what a decision here today could mean
6 for the entire COPD patient community. Having a
7 therapy that improves COPD mortality, even in a
8 subset of patients with COPD, I believe has the
9 potential to improve care for all patients with
10 COPD in the U.S.

11 As a pulmonologist, I've witnessed
12 significant nihilism on the part of the medical
13 community at large with respect to diagnosing and
14 treating COPD. Many primary care health providers
15 are not compelled to appropriately diagnose any
16 COPD with spirometry simply because they have a
17 sense that they can't get it wrong, because
18 whatever treatment is chosen, it's good enough.
19 There are really no consequences to their actions.

20 However, if there is a therapy that changes
21 disease course in this profound way, than to me it
22 behooves all healthcare providers to appropriately

1 diagnose and treat all patients with COPD, because
2 by not appropriately assessing and treating all
3 patients, providers may potentially miss patients
4 that could experience mortality benefit.

5 This is the first time we've had evidence
6 that correct treatment with respect to
7 pharmacotherapy can save lives, and I really urge
8 the panel to think about the potential impact that
9 recognizing mortality benefit in appropriate
10 patients could have, not just on the select subset,
11 but on the entire population of COPD patients.

12 The decision being weighed today could
13 improve the lives of millions of Americans by
14 transforming the landscape of how COPD care is
15 approached, particularly in primary care. I
16 strongly believe this data will be a game changer
17 in compelling primary care providers to
18 appropriately diagnose, assess, and treat all
19 patients with COPD, as there would now be real
20 consequences to not doing so. Thank you.

21 DR. STOLLER: Thank you.

22 Will speaker number 2 begin and introduce

1 yourself? Please state your name and any
2 organization you're representing for the record.

3 DR. SEYMOUR: Thank you for the opportunity
4 to speak today on behalf of the National Center for
5 Health Research. I'm Dr. Meg Seymour, a senior
6 fellow at the center. Our center analyzes
7 scientific and medical data to provide objective
8 health information to patients, health
9 professionals, and policymakers. We do not accept
10 funding from drug or medical device companies, so I
11 have no conflicts of interest.

12 Today, the committee is asked to discuss
13 whether data from the IMPACT trial provides
14 substantial evidence supporting the claim that
15 Trelegy improves all-cause mortality for patients
16 with COPD. The design of the IMPACT trial limits
17 its ability to address this claim. We share the
18 concerns of the FDA scientists that trends shown by
19 the data do not support the claim that Trelegy
20 improves all-cause mortality.

21 In the IMPACT trial, the difference in
22 all-cause mortality between ICS and non-ICS trial

1 arms was limited to the first 90 days of the trial
2 and disappeared after that. This clearly suggests
3 that the difference could have been due to how some
4 patients assigned to the non-ICS arm were being
5 treated with ICS prior to the study.

6 As FDA scientists pointed out, 71 percent of
7 patients were treated with ICS prior to the study,
8 however, if they were assigned to the non-ICS arm,
9 they had their ICS removed as part of the study
10 design. Of the 29 percent of patients who were
11 ICS-naive, there was no apparent difference in
12 all-cause mortality between those in the ICS and
13 non-ICS arms.

14 Although this analysis was underpowered, the
15 data suggest there is no meaningful difference.
16 Thus, the difference in all-cause mortality between
17 the ICS and non-ICS arms appears to be due to this
18 removal of ICS. It's essential to note that when
19 FDA scientists analyzed the data as if ICS
20 treatment was a control and ICS removal was an
21 active condition, they found a potentially
22 clinically significant fivefold increased risk of

1 mortality attributable to ICS removal from baseline
2 to 90 days into the trial.

3 This analysis clearly suggests that Trelegy
4 does not actually reduce all-cause mortality.

5 Instead, removing ICS for some patients appears to
6 have led to the difference in all-cause mortality
7 between the ICS and non-ICS arms. In other words,
8 the all-cause mortality result of the IMPACT trial
9 is due to the design of the trial rather than the
10 drug itself reducing all-cause mortality.

11 In addition to this apparent effect of the
12 study design, we're also concerned about the
13 limited diversity of the trial samples, which was
14 mostly older white males. In addition to this
15 apparent effect of the study design, we're also
16 concerned about -- it's unclear whether women,
17 people of color, or other age groups would or would
18 not benefit.

19 The design of the IMPACT trial is not
20 consistent with how patients will be treated in the
21 real-world clinical setting. In a typical clinical
22 setting, patients would be generally prescribed

1 Trelegy because they're moving from double to
2 triple therapy. A Trelegy prescription would mean
3 adding treatment with ICS to those who are
4 ICS-naive.

5 Since the IMPACT study design terminated ICS
6 use in the control arm, the data from IMPACT cannot
7 address whether adding ICS to those who are
8 ICS-naive reduces all-cause mortality. We
9 therefore urge the committee to keep this in mind
10 when discussing the proposed labeling claim and to
11 reject it as not adequately supported by the data.
12 Thank you.

13 **Clarifying Questions (continued)**

14 DR. STOLLER: Thank you very much.

15 The open public hearing portion of the
16 meeting is now concluded and we will no longer take
17 comments from the audience. I'll remind the
18 committee that we're at 1:02, and recognizing that
19 there were questions both to the sponsor and the
20 FDA that were clarifying questions that were not
21 addressed earlier because of time, we now have
22 approximately 48 minutes to do so. We've kept

1 track of those questioners.

2 We'll begin with questions to the sponsor
3 that were unasked, and then questions to the FDA.
4 So let's keep them in that order, please. I'm
5 aware that the order of questioners to the sponsor
6 were, first, me; then Dr. Dodd; Dr. Kelso;
7 Ms. D'Agostino; and Dr. Carvalho, and then to the
8 FDA, Drs. McCormack; Carvalho; and Kelso.

9 So I will begin and pose my question to the
10 sponsor, and that is this. It regards CR-17, the
11 analysis of SUMMIT and in what is in the
12 presentations, the same slide CO-11. The predicate
13 is that given the importance of convincing
14 replicate data in the FDA guidance of the condition
15 for approval of a labeling change, my question is
16 this.

17 You've posed here the subset analysis of the
18 exacerbating population. We acknowledge the fact
19 that in SUMMIT, as with the other trials, there
20 were patients, albeit before the washout, that were
21 on ICS and even triple therapy. As I recall, it
22 was 9 percent in SUMMIT on triple therapy and

1 33 percent on ICS.

2 So my question to the sponsor is whether
3 you've done this analysis of placebo versus FF/VI
4 in the prior ICS subgroup of SUMMIT. I understand
5 the numbers get progressively small, but the trends
6 would be important.

7 Is my question clear? I have a follow-up
8 question, but I want to make sure that questions
9 clear.

10 DR. JONES: Thank you. Dr. Stoller. Yes, it
11 is. I'm going to hand over to Dr. Crim to address
12 it.

13 DR. CRIM: Thank you. Dr. Jones.

14 Before I address that, I just wanted to go
15 back to a previous question raised by one of the
16 committee members from the standpoint of the
17 comparison between FF/VI and VI in this
18 exacerbating population.

19 DR. STOLLER: Can you respond to my question
20 and we'll come back to the other, please?

21 DR. CRIM: Yes. Okay. I'll respond to your
22 question first. Slide up.

1 Here's the data from, as you can see, both
2 the IMPACT as well as the SUMMIT study in terms of
3 those that were using ICS at screening and those
4 that were not. As you can see, those that were
5 using an ICS at screening, which was only
6 10 percent of the subjects in the exacerbating
7 population, got randomized to placebo. So again,
8 it's only 10 percent. And then you can see the
9 non-ICS users.

10 In those that were using ICS -- again,
11 10 percent -- you can see that treatment effect
12 with a p-value of 41 percent reduction favoring
13 FF/VI versus placebo, and you can see the p-value.
14 In those that were not using ICS, although it did
15 not achieve statistical significance, you can see
16 that the point estimate does in fact favor FF/VI.

17 Does that answer your question?

18 DR. STOLLER: No. Is this the exacerbating
19 subset or is this just the ICS baseline subset?
20 I'm asking about the exacerbating subset who were
21 on ICS before.

22 DR. CRIM: This is the exacerbating subset,

1 yes.

2 DR. STOLLER: Oh, I see. Okay.

3 I have one follow-on question as well,
4 again, regarding replicate studies. Now, you've
5 contended, perhaps unlike the agency, that TORCH is
6 irrelevant, given a different molecule and practice
7 standards 20 years ago. Nonetheless, I would
8 wonder whether you've done a similar analysis of
9 this very analysis in TORCH, and if you have, I
10 would like to see that; in other words, the
11 exacerbating subset in TORCH that were on ICS at
12 baseline.

13 DR. CRIM: I'll see if we have that slide,
14 but in the interim, what I can say about the TORCH
15 population from the standpoint of looking at those
16 who were an exacerbating population, and again
17 recognizing the points that you raised from the
18 standpoint of the treatment paradigm at that time,
19 looking at the exacerbating population and, again,
20 the comparison of particular fluticasone
21 propionate and salmeterol, the treatment
22 difference did not achieve statistical

1 significance, although the point estimate did favor
2 the combination compared with placebo with about a
3 10 percent reduction in mortality, but did not have
4 the p-value less than 0.05.

5 DR. STOLLER: Okay. Thank you. That
6 answers my question.

7 Did you have a follow-up comment to a prior
8 question? We'll ask you to be brief, but Dr. Dodd
9 is next, if not.

10 DR. CRIM: It was just to make the point
11 that -- I think a committee member had requested if
12 we had a comparison in the exacerbating population
13 of SUMMIT that looked at the comparison of FF/VI
14 with VI. And again, since I've shown in the slide,
15 and as Dr. Wise mentioned, you have a floor effect,
16 therefore, just looking at those point estimates,
17 you would not see a large difference between the
18 FF/VI and the VI arm.

19 That's why I think it was more important to
20 look at the FF versus placebo arm, where, again,
21 you saw about a 34-35 percent reduction in
22 mortality because of that floor effect. Looking at

1 the FF/VI versus placebo was probably the best way
2 of getting a sense of the FF effect. Thank you.

3 DR. STOLLER: Thank you very much.

4 Dr. Dodd?

5 DR. DODD: Hello. This is Lori Dodd. I
6 have a question for the statistician from the
7 company. I want to address the multiplicity
8 question. I noticed that in the primary
9 publication, and as noted in the documents from the
10 company, there were planned multiplicity
11 adjustments for the co-primary hypothesis of the
12 comparison of Trelegy versus FF/VI and Trelegy
13 versus the UMEC/VI. This plan extended to the
14 secondary endpoints with the closed testing
15 procedures.

16 For example, just focusing on the
17 co-primary, my understanding of the procedure was
18 that they either both had to be statistically
19 significant at p less than 0.05 or one of them had
20 to be significant at some other or much lower
21 threshold like p less than 0.01. Then there was a
22 similar adjustment for multiplicity with a

1 secondary endpoint, but there was no plan for
2 multiplicity adjustments for the other endpoints.

3 I think this is quite concerning. In
4 general, there are two areas of concern with
5 multiplicity that I see here. The first has to do
6 with the multiple endpoints for a given treatment
7 arm comparison, so this gives multiple chances for
8 a drug combination to win on one of many endpoints.
9 The applicant has commented on this by stating that
10 33 -- if that's the exact number -- of 34 endpoints
11 meet statistical significance at the 0.05 level. I
12 find this argument ad hoc, and I think it needs
13 more statistical rigor behind it.

14 But most importantly, this argument misses
15 the other key problem with multiplicity, which is
16 that there are two armed comparisons that need
17 consideration. So this gives Trelegy two chances
18 to win on every endpoint considered, so it gives
19 Trelegy two chances to win on the mortality
20 endpoint, which is what we've seen.

21 So I would like to hear from the
22 statistician what was the reason for the logic

1 about multiplicity for having multiplicity
2 adjustments for the co-primaries and the
3 co-secondaries but not for the other endpoint.

4 DR. LETTIS: This is Sally Lettis,
5 statistician, GSK. I'm sorry. I got cut off, and
6 I missed part of your question. So I'll start to
7 answer it, and if I don't answer all of it, please
8 could you just ask me to add further clarification?

9 Could I first have up slide SL-2? This
10 study was primarily set up as an exacerbation
11 study, so the primary endpoint was the weight of
12 moderate-severe exacerbations for both comparisons
13 of Trelegy versus each of the joules, and that was
14 block 1 of the multiple comparison. Slide up.
15 Sorry. I'm waiting for the slide.

16 If we actually met significance on those,
17 then we would move to the secondary block, which
18 was actually changed from baseline in trough FEV1
19 and changed from baseline in SGRQ at week 52, but
20 those comparisons were only for Trelegy versus
21 FF/VI, and that was because they're the endpoints
22 that we felt assessed the bronchodilator benefit.

1 Then if we made it through that block, then we had
2 time to first moderate-severe COPD exacerbation for
3 Trelegy versus both joules. That was the hierarchy
4 that was prespecified. We had a number of other
5 endpoints.

6 Again, I want to stress that these were not
7 exploratory. They were all endpoints related to
8 COPD, both symptoms and to do with lung function.
9 However, I also want to clarify that ACM we don't
10 think is an exploratory endpoint. We had an
11 adjudication committee and we also, by design,
12 collected mortality off-study, even in the original
13 protocol. The most complete data set, which you've
14 seen today, actually collected additional
15 post-study deaths, but the original intent was to
16 collect deaths post-study.

17 I did also want to pick up on multiplicity
18 more generally because I think the concern for
19 multiple comparisons stems from the fear of finding
20 falsely significant findings. As we've said, we've
21 got 34 endpoints both for Trelegy versus FF/VI and
22 Trelegy versus UMEC/VI. I think if those

1 34 endpoints were sets of random numbers, then it
2 is quite reasonable to say that any finding you
3 would have p less than 0.05 would be due to chance.
4 However, these 34 endpoints all relate to
5 physiological effects of a pharmaceutical agent,
6 and actually for both Trelegy versus UMEC/VI and
7 Trelegy versus FF/VI, we have statistically
8 significant results in 29 of the 34 comparisons.
9 If these were really due to chance, you'd only
10 expect two to be significant by chance for each of
11 those comparisons. We have more than 85 percent of
12 endpoints, which are actually significant.

13 I would like to just actually hand over to
14 our statistical consultant, Dr. Makuch, to see if
15 he wanted to add anything further.

16 DR. DODD: Before you do that -- this is
17 Lori again -- may I just ask then, given that
18 that's the case, how do you explain that there is
19 an observed potentially statistically significant
20 mortality benefit for the UMEC/VI comparison with
21 Trelegy but not one with the comparison with FF/VI,
22 given what you've stated about the statistical

1 significance of the other secondary endpoint?

2 DR. LETTIS: Yes. I think we believe, and I
3 think as Dr. Jones said in her presentation, as
4 also Dr. Wise alluded to in terms of a clarifying
5 question earlier, that it's really severe
6 exacerbations which increases the risk of mortality
7 in COPD patients. So the fact that actually
8 Trelegy versus FF/VI was not actually significant
9 for severe exacerbations, they were the only
10 endpoints for Trelegy versus FF/VI that were not
11 significant in addition to all-cause mortality. So
12 actually, it's really the risk on severe
13 exacerbations which is driving the mortality
14 effect, is our belief.

15 Does that answer your question?

16 DR. DODD: Sort of, yes. Thank you.

17 DR. LETTIS: Could I ask Bob Wise -- I'm
18 sorry. Bob Makuch, do you want to comment?

19 DR. MAKUCH: I'll just make a very brief
20 remark -- Robert Makuch, Yale University -- that in
21 this case, the need for multiplicity adjustment
22 does begin, as Dr. Lettis indicated, with an

1 assessment of the reasonableness that the data are
2 essentially random. The p-value must be
3 interpreted within this broader context because
4 p values are not strict decision rules, but rather
5 one piece of evidence to be evaluated within the
6 broader context of the study's quality; for
7 example, the mortality ascertainment right here
8 being 99.6 percent and the conduct and the
9 consistency of the results.

10 As you heard, there were 29 of 34 for
11 analyses which were significant and less than 0.05.
12 So in this setting, the p-value multiplicity
13 adjustment for the prespecified ACM endpoint did
14 not seem warranted because the trial supports the
15 tenability of the thesis that the outcomes provide
16 real evidence of a positive biologic effect. Thank
17 you.

18 DR. STOLLER: Thank you.

19 DR. JONES: Thank you. Sorry --

20 DR. STOLLER: I'm sorry?

21 DR. JONES: This is Elaine Jones from GSK.
22 I was just going to ask Dr. Wise for his thoughts

1 on not seeing the benefit in the FF/VI arm, to
2 complete that question.

3 DR. STOLLER: Okay. Dr. Wise?

4 DR. WISE: Thank you. This is Bob Wise. I
5 think that although the FF/VI arm did not show
6 statistical significance, if you look at the
7 curves, it certainly looks like it's very close to
8 the Trelegy effect on all-cause mortality, which is
9 reasonable if we postulate, as I think the FDA also
10 does, that this is an effect of the inhaled
11 corticosteroids reducing exacerbation. So from a
12 clinical perspective, I don't think that that's
13 such an important distinction.

14 DR. STOLLER: Very good.

15 Ms. D'Agostino, I think you're next, then
16 Dr. Kelso, and Dr. Carvalho.

17 Ms. D'Agostino, question, please?

18 MS. D'AGOSTINO: Thank you. My question is
19 for -- I think it was Dr. Wise who was on the IDMC.
20 We were given -- I don't know from the slide
21 number, but it was page 109 on the FDA briefing
22 document, how the IDMC expressed concerns about

1 their early best trend. I just wanted to clarify,
2 that was in the comments that were sent over to
3 GSK; is that correct?

4 DR. JONES: Ms. D'Agostino, if I can just
5 clarify, you're referring to the conduct of the
6 IDMC of which Dr. Wise was a member. You'd like to
7 ask him about some operational and procedural
8 aspects?

9 MS. D'AGOSTINO: Yes.

10 DR. JONES: Okay. Thank you.

11 Dr. Wise?

12 DR. WISE: Yes. What is the question?

13 DR. JONES: I think, actually, Dr. Wise,
14 it's about how you got the information from GSK,
15 and the decisions and the discussions that you had
16 when you saw the data in the early portion of the
17 study. I think that's what it is.

18 MS. D'AGOSTINO: Yes, that's correct.

19 DR. WISE: Okay.

20 MS. D'AGOSTINO: We were shown a comment
21 that expressed concern about some of the early
22 deaths that were occurring, specifically that could

1 potentially be attributed to patients being
2 withdrawn from steroids, and I was wondering if you
3 could elaborate on the conversations that you
4 caught at that time.

5 DR. WISE: Yes. I think early on in the
6 study, in November of 2015, was the first time that
7 we noticed that there was an imbalance in deaths.
8 That was when about half the participants were
9 enrolled. There was no statistical evaluation. It
10 was only presented to us as a proportion of
11 patients or numbers in each group. This was
12 obviously concerning to the Data Monitoring
13 Committee.

14 We did ask that not only the proportions of
15 deaths in each group be presented to us, but also
16 the timing of the deaths. And by the next meeting,
17 that was in April of 2016, we were presented with
18 Kaplan-Meier curves that showed this difference
19 between the two inhaled corticosteroid arms and the
20 dual bronchodilator arm.

21 At that time, again, this was of some
22 concern to us, and we discussed a variety of

1 explanations for it, one of which was that this was
2 due to failure to prescribe the inhaled
3 corticosteroids along with the dual bronchodilator,
4 that this could be considered potentially a toxic
5 effect, if you will, of the dual bronchodilator
6 such as has been suggested to occur in asthma,
7 where bronchodilator monotherapy is
8 considered -- without an inhaled corticosteroid
9 chaperone is considered improper. So that was one
10 consideration.

11 From the point of view of the committee, we
12 made no distinction between withdrawal of
13 corticosteroids as a harmful effect and
14 prescription of inhaled corticosteroids as a
15 beneficial effect. To us, these were just two
16 sides of the same coin, and you couldn't make a
17 comment about one without making a comment about
18 the other.

19 Ultimately, with that meeting and then a
20 continuing meeting in November of 2016, we
21 continued to see this effect and discuss it, and we
22 decided that the trial should not be stopped.

1 There were several things that went into that
2 consideration. Number one was the fact that the
3 dual bronchodilator, Anoro, is already, or was
4 already, at that time an approved medication and
5 was widely used. It had a very clean record in the
6 registration trials, and after being widely used,
7 there was no implications of a toxic effect, if you
8 will, in COPD.

9 But the more important issue was that we
10 recognize that even though the dual bronchodilator
11 arm had a higher mortality rate than the two
12 inhaled corticosteroid arms, the overall mortality
13 was very low compared to what we would expect in
14 this population that would qualify as GOLD Group D
15 at that time. So where we would have expected
16 about a 10 percent annual mortality rate, we were
17 saying an annual mortality rate of around 2 and a
18 half percent, which subsequently turned out to be
19 the case.

20 So our ultimate conclusion was that this was
21 an important trial. It was key to trying to
22 determine the effects of inhaled corticosteroids in

1 COPD, and we thought that the overall low mortality
2 rate in all three groups reflected that there was a
3 benefit in all three groups and, therefore, there
4 was no reason to stop the trial.

5 Have I responded to your question?

6 MS. D'AGOSTINO: Yes. Thank you. That's
7 helpful.

8 DR. WISE: Thank you.

9 MS. D'AGOSTINO: I have one other question
10 that was raised I think very nicely by the second
11 public speaker. I'm not sure who's best to address
12 this question, but anyone who is involved in the
13 trial design, explain what measures to typically
14 recruit women and people of color.

15 I don't know if you have this slide that
16 shows efficacy broken down by subgroups, race and
17 gender, but given that this study ended up not
18 being powered to detect efficacy, really, by gender
19 or race, what measures were actually taken to
20 physically recruit any group besides white men?

21 DR. JONES: Thank you, Ms. D'Agostino. I'm
22 going to ask David Lipson, who designed the study,

1 to address that question.

2 DR. LIPSON: As a matter of principle, GSK
3 intends and attempts to enroll a broad group of
4 patients that are representative of patients with
5 COPD. It is important to recognize, for example,
6 that the IMPACT trial was performed in over a
7 thousand sites in 37 countries around the world, so
8 clearly demographics are different. But to take,
9 for example, people of color, in the IMPACT study,
10 although, overall, African Americans, for example,
11 totaled about 3 percent in the trial, if you look
12 at the U.S. population, the numbers of African
13 Americans that were enrolled was 8 percent.

14 So it is important to recognize the
15 demographics of the different countries, and,
16 again, we attempt to enroll women, people of color,
17 in order to ensure the generalizability of the data
18 overall. Thank you.

19 DR. STOLLER: Does that respond to your
20 question, Ms. D'Agostino?

21 MS. D'AGOSTINO: I suppose. Thank you.

22 DR. STOLLER: Okay. Dr. Kelso is next,

1 please.

2 DR. KELSO: Yes. Is it possible to bring up
3 CO-45, slide CO-45 from our original slide set?

4 Yes.

5 I think this just tells the whole story that
6 the benefit is confined to those people who were on
7 ICS at screening and that the intervention that has
8 been done in those patients is to remove their ICS.
9 On the one hand, I guess you could argue, well, if
10 taking away an ICS is bad, why isn't adding an ICS
11 good? But that means that these have to be, as has
12 been stated, two different patient populations.

13 One of the letters to the editor about the
14 IMPACT trial said that a history of asthma was not
15 an exclusion criteria, so I'd like to find out if
16 that's correct because, presumably, then some of
17 these patients could have had asthma or what's
18 sometimes called asthma COPD overlap syndrome, and
19 withdrawing their ICS might have been particularly
20 harmful.

21 But at any rate, I don't think there's any
22 other way to look at the difference in these curves

1 on the left except that these are patients
2 that -- the intervention that was done here is that
3 their ICS was taken away, and that led to a higher
4 mortality rate. So I guess I'd like to find out,
5 again, if patients with asthma were excluded from
6 the trial and why there's any other way to look at
7 this other than a withdrawal trial.

8 DR. JONES: Thank you, Dr. Kelso. Just to
9 confirm, this was not an asthmatic population. All
10 patients within the study met the ATS-ERS criteria
11 for COPD, and the current asthma diagnosis was an
12 exclusion. Investigators were only allowed to
13 enroll patients if their symptoms were due to COPD;
14 the patients, either active or former smokers, with
15 an almost a 47 pack-year of cigarette exposure.

16 So I've given you the information around the
17 population with regards to asthma, but I'd like to
18 ask Dr. Wise to address some of the other comments
19 that you've made.

20 DR. WISE: Yes. This is Bob Wise. I think
21 the issue really surrounds the question that you've
22 raised, Dr. Kelso, of whether withdrawal of an

1 inhaled corticosteroid from a population whose been
2 prescribed that by their physician is harmful, and
3 I would say that these data certainly support that,
4 and I don't think that it's just a chance finding.

5 The real question is how do you put that
6 into clinical decision making. If you want to look
7 at this as making a recommendation that people who
8 are on triple therapy should not have their steroid
9 removed, I think that's very reasonable. I think
10 you can also look at this data and say that if you
11 have a patient who's doing well on dual therapy
12 without an inhaled corticosteroid, you should not
13 remove the inhaled corticosteroid, and I think you
14 can make that case.

15 I think it becomes problematic, and I think
16 the agency and the sponsor are what I would call
17 360 degrees apart on the question of inhaled
18 corticosteroids. The labeling, how the labeling
19 goes, is of course subject to negotiation between
20 the sponsor and the FDA; and whether that labeling
21 wants to call Trelegy beneficial, or failure to
22 prescribe Trelegy as harmful, in this population I

1 think is something to be negotiated.

2 Okay?

3 DR. KELSO: I guess my only other comment
4 would be, then, the group on the right. There
5 clearly is also a group of patients for whom being
6 on this drug, those who were not on ICS at
7 screening, does not decrease their mortality. So
8 again, I realize that they're sort of circular
9 arguments here, taking a drug, the inhaled
10 corticosteroid, away versus adding it, et cetera,
11 but I just find it very hard to conclude, from
12 looking at these two graphs, that you can make a
13 blanket statement that being on this medication
14 decreases all-cause mortality. Thank you.

15 DR. STOLLER: Thank you, Dr. Kelso.

16 Dr. Carvalho is the last of the remaining
17 clarifying questions to the sponsor, and then we'll
18 turn in the remaining 20 minutes to remaining
19 clarifying questions to the FDA, beginning with
20 Dr. McCormack.

21 Dr. Carvalho, please?

22 DR. CARVALHO: Thank you, Dr. Stoller.

1 I just have a single question, which
2 Dr. Kelso asked verbatim. But I did wonder if
3 there's any pre-enrollment airflow reversibility
4 data for the subjects included the IMPACT trial.

5 DR. JONES: Thank you, Dr. Carvalho. I
6 don't know the answer to that question, so I'm
7 going to ask Dr. Lipson, the study physician, to
8 address it.

9 DR. LIPSON: Hi. David Lipson. The
10 question, was there any free -- I just want to
11 clarify the question, was there any requirement to
12 have reversibility in the trial in order to get
13 into the trial?

14 DR. CARVALHO: No. The question was just to
15 see if there was any airflow reversibility data
16 available so that we can compare what Dr. Kelso was
17 alluding to with the asthma COPD overlap syndrome
18 with those that would not have reversibility.

19 DR. LIPSON: Yes. Eighteen percent of
20 patients would have met reversibility criteria as
21 designated with 200 mL and 12 percent increase in
22 FEV1 after albuterol, which would be expected and

1 seen very commonly in a population with COPD.

2 DR. CARVALHO: Then are there outcomes for
3 those specific patients?

4 DR. LIPSON: We would have to pull them up.

5 DR. JONES: Actually, I'm looking at the
6 slide, and I don't think we have that data.

7 DR. CARVALHO: Thank you.

8 DR. JONES: It's something that we can try
9 and have a look at, but at the moment we don't.

10 DR. CARVALHO: Okay. Thank you so much.

11 DR. STOLLER: Alright. Well, that concludes
12 the clarifying questions to the sponsor. There
13 were some remaining questions to the FDA, three in
14 fact, the first of which was posed by
15 Dr. McCormack.

16 Dr. McCormack, your question to the FDA,
17 please?

18 (No response.)

19 DR. STOLLER: You may be muted.

20 DR. McCORMACK: Can you hear me now?

21 DR. STOLLER: I can.

22 DR. McCORMACK: Okay, great. Sorry about

1 that.

2 This is Frank McCormack. My original
3 question was about the multiplicity analysis and
4 how the FDA viewed the logic that was listed in the
5 briefing documents in GSK, but I think we had a
6 good discussion of that.

7 There were two remaining questions that I
8 had. One was about how the FDA views this
9 discussion of exploratory versus other endpoints.
10 We had the view of GSK expressed, but I wondered if
11 the FDA makes a distinction between these two types
12 of endpoints. And secondly, I wondered if there's
13 any difference in the level of evidence that's
14 required, or what the difference is, for changing a
15 labeling indication versus a labeling claim.

16 DR. LEVIN: This is Greg Levin at FDA. Let
17 me see if I can address both of those. For the
18 first one, I don't see much of a distinction
19 between "and other endpoints" classified as "in
20 other endpoints" in the statistical analysis plan.
21 That is not included in the multiple testing
22 strategy and one that is labeled as exploratory.

1 Clearly, it would be a little bit better if
2 the endpoint is included in the statistical
3 analysis plan at all, as mortality was done here,
4 as compared to other endpoints and analyses that
5 were not even included in the statistical analysis
6 plan to begin with, so I think that is a
7 distinction that one could make. But this was an
8 exploratory analysis in that it was not included in
9 the multiple testing strategy, and most protocols
10 in statistical analysis plans that I have seen
11 would classify those as exploratory endpoints, so I
12 don't really see much of a distinction, and I think
13 it's kind of one in the same.

14 Can you remind me of what your second
15 question? Oh, it was about -- sorry, go ahead.
16 Yes. Can you remind me what the second question
17 was?

18 DR. McCORMACK: Level of evidence required
19 for labeling claim versus changing an indication or
20 adding an indication.

21 DR. LEVIN: Okay. That's right, yes.

22 In terms of our guidance on the topic,

1 including the two guidances that were referenced in
2 our presentations, there's no distinction made.
3 Claims of effectiveness in labeling are expected to
4 be supported by substantial evidence, and the
5 criteria for what qualifies as substantial
6 evidence, as were outlined in our slides, includes
7 a high degree of persuasive evidence if it's
8 relying on a single study.

9 DR. McCORMACK: Thank you. That concludes
10 my question.

11 DR. STOLLER: Thank you, Dr. McCormack.

12 I believe Dr. Kelso -- sorry. Dr. Carvalho
13 has a question for the FDA, please, and then
14 Dr. Kelso.

15 DR. CARVALHO: My question has been actually
16 answered by other speakers. Thank you.

17 DR. STOLLER: Thank you, Dr. Carvalho.

18 Dr. Kelso, a question to the FDA, please?

19 DR. KELSO: Yes. I know that we're not
20 evaluating the ETHOS trial, but since it was
21 brought up, I wondered if the FDA had any comment
22 about the ETHOS trial as sort of potential

1 corroborating evidence and whether the difference
2 in mortality seen in that trial could have also
3 been due to this issue of ICS withdrawal.

4 DR. BUSCH: Hi, Dr. Kelso. This is Rob
5 Busch. In general, we don't want to speculate
6 about exactly why a phenomenon may or may not have
7 happened in that particular trial since they were
8 not a part of this review, and we haven't
9 necessarily analyzed the patient level data in the
10 same way we have done for IMPACT, SUMMIT, and
11 TORCH.

12 Publicly available data -- well, let me
13 say -- I'll stress again that the prestudy
14 medication subgroup analyses were exploratory
15 analyses here, despite raising these issues, and
16 that we're asking for your opinion on how these
17 concerns may influence the interpretation of the
18 data from IMPACT in that claim. So with those
19 caveats, you can still say a tiny bit to point out
20 some differences.

21 Publicly available data from the ETHOS trial
22 shows that subjects could enter the trial on

1 prestudy ICS among other drugs and subjects could
2 be randomized to LAMA/LABA, so prestudy therapy
3 concerns are worth considering in this trial.
4 There are some differences in this trial in the
5 population of IMPACT, though. Around 80 percent of
6 subjects in ETHOS had prestudy ICS, although only
7 56 percent were frequent exacerbators in the prior
8 year, and the exacerbation criteria were slightly
9 different.

10 There was a 1 to 4-week run-in in this trial
11 that required washout of LAMA and LABA, which could
12 potentially have led to some attrition of
13 vulnerable patients, but ICS was continued during
14 the run-in. In addition, the publicly available
15 data focuses on the on-treatment data and not all
16 patients randomized. IMPACT did a lot of vital
17 status follow-up to get us as much data as possible
18 for this application. The publicly available data
19 is that on-treatment data.

20 So prestudy ICS and ICS-naive subgroup
21 analyses in the ICS LAMA versus LABA/LAMA
22 orientation -- so not the same flipped orientation

1 that we've given -- are presented in their
2 supplement for exacerbations, but there's not
3 sufficient mortality data and analyses from the
4 study in the public domain for the agency to
5 discuss the potential effects of ICS removal on
6 mortality in this context. But based on the
7 publicly available data on the trial design issues,
8 that prestudy medications might be considered when
9 interpreting the trial results.

10 DR. KELSO: Thank you. So I guess at least
11 there's a potential of the same issue with having
12 ICS withdrawn because if you look at the mortality
13 curves in ETHOS, it to my eye looks like there's
14 the same issue of the kind of early separation of
15 the curves. And, again, that's just an eyeball
16 assessment, but thank you.

17 DR. STOLLER: So I'm aware that all of the
18 clarifying questions have been posed to the sponsor
19 and to the agency. We do have about 10 minutes on
20 the schedule before we go to discussion questions,
21 so I'll ask the committee as to whether there are
22 any remaining clarifying questions that should be

1 addressed; otherwise, we'll turn to the discussion
2 questions.

3 Please raise your hand if you have a
4 remaining clarifying question.

5 (No response.)

6 **Questions to the Committee and Discussion**

7 DR. STOLLER: Okay. Seeing none, we'll now
8 proceed with the questions to the committee and the
9 committee discussion. I would like to remind
10 public observers that while this meeting is open
11 for public observation, public attendees may not
12 participate except at the specific request of the
13 panel. After I read each question, we will pause
14 for any questions or comments concerning its
15 wording, and then we will open the question to
16 discussion.

17 As the committee knows, we have three
18 discussion questions and one single voting
19 question. Each of the discussion questions has
20 multiple parts, a total of 11, A, B, C for
21 question 1; A, B, C, D for question 2; and A, B, C,
22 D for question 3. For the sake of clarity, I'd

1 like to propose that we discuss each lettered
2 subset of the question first, so I'll begin with
3 reading discussion question 1A, and then ask for
4 the committee as to whether there are any questions
5 about the wording.

6 Discussion question 1. Discuss the
7 persuasiveness of the data in the IMPACT trial to
8 support the claim that fluticasone furoate, as a
9 component of Trelegy Ellipta, improves all-cause
10 mortality in COPD. Include the following elements
11 in your discussion. The first element is A) the
12 exploratory nature of the all-cause mortality ACM
13 analysis, the lack of type 1 error control, and the
14 strength of evidence in IMPACT.

15 Let me ask if there are any clarifying
16 questions about the wording of the discussion
17 question to the committee, please. If you have a
18 question, please raise your hand.

19 (No response.)

20 DR. STOLLER: I see no hands raised, so let
21 me invite anyone who wants to comment on question
22 1A, please.

1 Dr. May?

2 DR. MAY: Suzanne May. With regard to the
3 lack of type 1 error control and the strength of
4 the evidence, I think it is a subset or it's not as
5 important as the potential for this benefit to
6 actually be removal of the ICS and harm. So even
7 if there was, all of the issues were not issues
8 with regard to that brought up. The exploratory
9 nature, even if it wasn't exploratory, the p-value
10 would have been much smaller. If they strengthened
11 the effect, it would have been bigger. If it is
12 truly due to the removal of the ICS, that would
13 have trumped, or would trump for me, all of the
14 other issues.

15 That said, it doesn't seem to me as if the
16 all-cause mortality outcome meets the effectiveness
17 standards that are otherwise required for this type
18 of analysis type 1 error control and the strength
19 of the evidence, and that was my comment.

20 DR. STOLLER: Thank you, Dr. May.

21 Dr. Ellenberg, I think your next, please.

22 DR. ELLENBERG: Yes. These analyses,

1 presented both by the sponsor and the FDA are rife
2 with multiplicity We have multiple endpoints, we
3 have multiple subsets, we have multiple time
4 intervals. We have an endpoint that was clearly
5 prespecified but it was one of a very large number.

6 I'm not persuaded by the argument that all
7 of the, quote, "other" endpoints and secondary
8 endpoints were positive. If you also had another
9 endpoint that was something like which arm resulted
10 in someone being able to sing better, or something
11 that was completely unrelated, and that showed a
12 difference, we would all roll our eyes and say
13 that's a chance, even though it wouldn't make any
14 difference that all the other 34 endpoints were
15 significant.

16 So I don't think you can get away from the
17 multiplicity issue with the endpoints, but we also
18 have different subsets and different time frames.
19 My bottom line on this is that I agree with Dr. May
20 that the criteria for a definitive answer from a
21 single study that has to provide very statistically
22 persuasive evidence, in addition to supplementary

1 evidence from other sources, is not met here.

2 DR. STOLLER: Thank you, Dr. Ellenberg.

3 I believe my comment is next, and then
4 Dr. Dodd. With regard to the very specific focus
5 of this question, the multiplicity issue, I will
6 say that I am actually not concerned about the
7 multiplicity issue. I agree with Dr. May that the
8 juggler issue in the interpretation of these data
9 with regard to a label approval is not the
10 statistics but the methodologic interpretation with
11 regard to withdrawal, yes or no, and I'll reserve
12 discussion of that for later questions.

13 But I am not concerned. Given the type 1
14 errors are around chance findings and many of the
15 secondary other outcomes satisfied statistical
16 significance, I think the chance that this is
17 observed by chance alone is rather low.

18 Dr. Dodd had a question, and then Dr. Evans.

19 DR. DODD: Yes. I was just going to support
20 the statements of Dr. Ellenberg, that I see the
21 multiplicity issue as particularly problematic. I
22 think there was a clear acknowledgment of the need

1 for multiplicity in the careful analysis that was
2 applied to the co-primary and co-secondary
3 endpoints, and that level of rigor was not applied
4 here.

5 It's clear that we do not see a survival
6 benefit in the Trelegy versus FF/VI, but there is
7 one that's being claimed for the Trelegy versus the
8 UMEC and VI combination; and to me that just speaks
9 to the importance of having clear multiplicity
10 adjustments. So this I think is particularly
11 concerning. Over.

12 DR. STOLLER: Dr. Evans, please?

13 DR. EVANS: Yes. I actually have a question
14 for the other members of the committee. My general
15 take on this is very similar to Dr. Stoller's in
16 terms of the meaning in portion A, but an
17 interesting question was raised earlier by one of
18 you, that in fact this is a three-arm trial. There
19 were two chances to win per comparison, and I'm
20 wondering if the statisticians in the group could
21 point us to what they would consider to be a
22 reasonable control for type 1 error in that

1 context.

2 DR. DODD: How do you want us to approach
3 this? I'm sure that we could all give answers.

4 DR. EVANS: Perhaps whoever spoke earlier
5 would be the right person to start with. I'm sorry
6 that I don't remember who it was.

7 DR. DODD: Yes. I did bring up the point
8 about the co-primaries and the two opportunities
9 for Trelegy to win. One could use the approach
10 that was protocol defined, which was the Hochberg
11 method, which required that both
12 comparisons -- this is for the primary -- be
13 statistically significant. I believe it was either
14 at 0.04 or you could require that they be
15 significant, both of them, at 0.05.

16 Then if they both were not statistically
17 significant, then the plan would be to test each
18 one individually at some lower type 1 error
19 threshold. I had read in their SAP that they were
20 testing at a 0.01 threshold for the individual arm
21 comparisons.

22 An alternative would be to use Dunnett's

1 test, which is pretty much similar to a Bonferroni
2 adjustment with only comparisons which would
3 require 0.025 for each of the comparisons. Those
4 would be two suggestions that I would have
5 proposed, but there are others.

6 I see that Dr. Ellenberg has her hand up, so
7 I will let her comment as well.

8 DR. STOLLER: Yes, Dr. Ellenberg, please?

9 DR. ELLENBERG: Yes. I would just say, even
10 forgetting about all of the co-primaries and other
11 secondary endpoints, at the very least there should
12 have been accounting for comparing between the two
13 different comparative arms. The simplest way to do
14 that would be to consider a Bonferroni comparison,
15 testing each at 0.025. I just don't think you can
16 get away from the multiplicity issues here. Thank
17 you.

18 DR. STOLLER: Okay. Are there other
19 questions, hands raised, with regard to
20 question 1A, please? If not, I'll summarize what I
21 think I heard, and then we'll go to 1B. Other
22 questions, please raise your hand.

1 I see Dr. Evans and Dr. Ellenberg. Have you
2 additional questions/comments on 1A? No.

3 I've been asked to just summarize the tone
4 of the discussion. I heard a variety of opinions,
5 one, with regard to the inescapable importance of
6 multiplicity, and Bonferroni, or other corrections,
7 and the other suggesting that because of the
8 multiplicity of outcomes, the majority of which
9 satisfied conventional p less than 0.5, that this
10 was less material, purely on the statistical
11 issues.

12 Let's turn then to question 1B, and let me
13 read the question and, again, ask whether there are
14 clarifications needed about the wording of the
15 question, and then we'll go to the discussion. 1B
16 is whether the all-cause mortality ACM results from
17 IMPACT are persuasive in light of the additional
18 all-cause mortality data from fluticasone
19 comparisons provided by both SUMMIT and TORCH.

20 Any questions about the wording of the
21 question, please?

22 (No response.)

1 DR. STOLLER: Seeing no hands raised, let me
2 open that question for discussion by the committee,
3 please.

4 I see Dr. May's hand raised, please, and
5 then Dr. Kelso.

6 DR. MAY: This is Suzanne May. I think this
7 goes back to the question that I had for the
8 GlaxoSmithKline people with regard to the
9 comparison of the FF/VI versus VI, and that we are
10 here in IMPACT talking about double and triple
11 therapies, and that for me, still, that comparison
12 is more important than the FF versus placebo
13 comparison because we're in the multiple therapy
14 options.

15 So I think what they presented as supposedly
16 supportive for the mortality claim for me is not
17 convincing because the one that would have been
18 more similar or most comparable to the IMPACT study
19 was actually not as supportive as they would have
20 hoped. That was the end of my comments on this.

21 DR. STOLLER: Thank you. Dr. May.

22 Dr. Kelso, please?

1 DR. KELSO: Yes. I would just point out,
2 again, in the SUMMIT trial, the findings say,
3 quote, "All-cause mortality was unaffected by
4 combination therapy," close quote. So the SUMMIT
5 trial I don't think is a positive trial. What was
6 presented was to pull out some subgroup of patients
7 who were more exacerbating to be more like the
8 patients in the IMPACT trial, and what was
9 presented was comparing that active treatment to
10 placebo, showing that it had an advantage. But
11 again, I don't think that's a fair comparison to
12 the other active treatment arms, so I don't think
13 that SUMMIT provides us with supporting data.

14 DR. STOLLER: Thank you, Dr. Kelso.

15 This is Jamie Stoller. I'm mindful, again,
16 in the FDA's guidance about approval, it requires
17 convincing data ideally from replicate studies. My
18 comments are, acknowledging what was said about the
19 overall effects of SUMMIT, on the one hand
20 recognizing it as a post hoc subset analysis, I was
21 on the one hand struck by the findings in SUMMIT on
22 a baseline ICS exacerbating population, recognizing

1 the differences in the SUMMIT study design, and
2 similarly by the lack of effect in TORCH.

3 So recognizing, again, different molecules,
4 different standards of care, I think most
5 pulmonologists regard the use of ICS as a class
6 effect, I have to say. So as I look at the
7 replicate data, there are, even in the subset
8 analyses, discordant views from the two replicate
9 studies. That concludes my remark.

10 Dr. Ellenberg has her hand raised, please.

11 DR. ELLENBERG: Yes. I think those other
12 data from those other studies are suggestive, and I
13 think that if the data from IMPACT had been
14 unquestionably positive and of the very
15 statistically persuasive nature in the criteria, I
16 would be comfortable considering those as
17 supportive. But without that kind of very
18 statistically persuasive data, I think what you
19 have are several items that are suggestive but not
20 definitive. Thank you.

21 DR. STOLLER: Thank you, Dr. Ellenberg.

22 Are there any other comments about question

1 1B, before we turn to 1C?

2 (No response.)

3 DR. STOLLER: I see no new hands raised, so
4 let's turn to discussion question 1C. I'll read
5 the question and then ask for any clarification
6 comments from the committee about the language of
7 the question. Question 1C is, the observed time
8 frame of the IMPACT results; that is to say the
9 early separation in survival.

10 Any questions about the wording of 1C,
11 please?

12 (No response.)

13 DR. STOLLER: Seeing none, let me open the
14 question for discussion by the committee, please.
15 Please raise your hand if you have a comment.

16 Dr. Kelso, and then Dr. Carvalho, please.

17 DR. KELSO: It seems very clear to me, from
18 our discussion and the slides that have been shown,
19 that this early separation is a very real thing.
20 Virtually all of the supposed advantage occurs in
21 that first 90 days, and while you can argue that
22 people continue to die in the study, that's true of

1 all of the arms, as was pointed out.

2 So people with this disease, no matter what
3 treatment they're on, will continue to die over
4 time. What we're looking for is, is there an
5 advantage. Are fewer people dying over a longer
6 period of time? And I just can't think why it
7 would be plausible that all of that advantage would
8 occur in the first 90 days, and from my
9 interpretation of the data, that early separation
10 is what we're seeing here.

11 DR. STOLLER: Thank you, Dr. Kelso.

12 Dr. Carvalho, I believe is next, then
13 Dr. D'Agostino, and Dr. Ellenberg.

14 DR. CARVALHO: Yes. My concern is we know
15 that there's many phenotypes of COPD at this point,
16 and I just wonder if we're having effects on
17 different populations in the very beginning, where
18 some will not mind being off the ICS, and some
19 physiologically are going to be different and
20 perhaps are more reactive, and perhaps have more of
21 an asthma component. So I would be interested in
22 seeing additional data on this. Thank you.

1 DR. STOLLER: Thank you, Dr. Carvalho.

2 Dr. D'Agostino? Sorry. Ms. D'Agostino,
3 please?

4 MS. D'AGOSTINO: Yes, thank you. My comment
5 really piggybacks off of Dr. Carvalho's. I think
6 this early separation really gets into the design
7 of the trial from the beginning. I don't really
8 understand why there wasn't a withdrawal period or
9 a run-in period if there was that much of a concern
10 about pulling patients off of their existing
11 therapy.

12 I don't particularly understand why there
13 would be a trial design that then involves pulling
14 patients off the therapy, and that's a real
15 sticking point for me. Then, as Dr. Kelso said, we
16 certainly see that early separation. It's
17 concerning to me that the DMC not only saw that
18 separation but didn't choose to act on it. That's
19 my comment. Thank you.

20 DR. STOLLER: Thank you, Ms. D'Agostino.

21 Dr. Ellenberg, and then Dr. Medoff.

22 DR. ELLENBERG: I think that early

1 difference looks pretty clear. I wouldn't make
2 very much out of looking at the analyses,
3 forgetting about everybody in the first 90 days and
4 just looking past that. You've lost the benefits
5 of randomization there, and the people remaining in
6 the three arms therefore may well have different
7 prognoses.

8 If there had been more deaths early on in
9 the arm without the ICS, the remaining people may
10 be somewhat a better prognosis than the remaining
11 patients in the other two arms, and that could
12 account for a certain parallelism of the curves. I
13 think it's very hard when you start cutting off and
14 taking out people like that to make something of
15 what's left. You have major selection factors
16 there. But there certainly does seem to be
17 something real in the first 90 days. Thank you.

18 DR. STOLLER: Thank you.

19 Dr. Medoff, please?

20 DR. MEDOFF: Yes, I'll echo the feelings
21 from others in saying that that difference is real.
22 I've been struggling with trying to think of a

1 mechanism by which steroid withdrawal would be not
2 equivalent to adding a steroid. As brought up by
3 one of the commenters, how is that different for
4 survival?

5 You just wonder if there is some
6 accommodation to a steroid inhaler, whether it's
7 upregulation of beta receptors or something else,
8 that with the withdrawal, there is this increased
9 risk that's seen early in that period. So I think,
10 as stated earlier, that that is something
11 significant and certainly makes me worry about the
12 effects of steroid withdrawal in this population.

13 DR. STOLLER: Thank you, Dr. Medoff.

14 Any other comments on 1C? Then I'm reminded
15 I'm remiss in not having summarized 1B and 1C,
16 which I will do once all questions have been
17 posed -- comments have been posed around 1C,
18 please.

19 Any other? Dr. Shapiro has his hand raised,
20 please.

21 DR. SHAPIRO: Yes, I agree with the
22 conversation. I'd like to commend the FDA for

1 really pulling out the first 90 days and second
2 90 days to make it very clear. I think people are
3 on the right track trying to think about why
4 withdrawal might be harmful in looking at
5 subgroups. But I think that's going to be our only
6 way forward to really get a clear answer to this
7 question. But I agree with the conversation, and
8 congratulations to the data presentation.

9 DR. STOLLER: Thank you, Dr. Shapiro.

10 Any other hands raised about 1C before I
11 summarize 1B and 1C, and then turn to question 2?

12 (No response.)

13 DR. STOLLER: I'll ask those of you who have
14 your hands raised to lower them so we're sure that
15 you don't have an additional question, please.

16 Thank you very much.

17 Let me summarize what I believe I heard. On
18 1B, whether the all-cause mortality results for
19 IMPACT are persuasive in light of the
20 additional -- while there was a comment I made
21 about the SUMMIT data and a post hoc subset
22 analysis, I think the weight of the opinion, as I

1 heard it from the committee, was that the replicate
2 data were not terribly persuasive.

3 On 1C, I think it was a fair degree of
4 concordance about the concern of the impact of the
5 data in the first 90 days on the outcome, whatever
6 explanation may exist for those first 90 days,
7 based on the analysis we saw of 90 days and then
8 post 90-day survival. So hopefully that's a
9 fair-minded summary for the agency of what we heard
10 on questions 1B and 1C.

11 We might then turn to question 2, and again,
12 this has four components. We'll take each
13 separately, and let me read the question, again
14 asking the committee for clarification on wording
15 if there's any uncertainty.

16 Discussion question 2 is discuss the
17 implications of prestudy inhaled corticosteroid,
18 ICS use, and ICS removal on the interpretation of
19 the all-cause mortality data in the IMPACT trial.
20 Include the following elements in your discussion.
21 We'll consider A first; A) the clinical
22 understanding of the contribution of inhaled

1 corticosteroids to COPD therapy and the effects of
2 ICS removal in patients with uncontrolled COPD and
3 frequent exacerbations.

4 Any questions on the wording of question 2A
5 for the committee, please?

6 (No response.)

7 DR. STOLLER: Seeing no hands raised, let me
8 ask for comments. Please raise your hand if you
9 have a discussion point for the committee, please.
10 Dr. Kelso, please?

11 DR. KELSO: I think that there's consensus
12 that there are some group of patients who are on
13 triple therapy, or at least double therapy, with an
14 ICS, for whom removing the ICS increases their
15 mortality, and that we would all like to know who
16 those people are. But I don't think that in
17 clinical practice, somebody who had a patient who
18 was already on double or triple therapy, including
19 an ICS, who was still having exacerbations would
20 start removing parts of their therapy. We'd be
21 looking for something else to add to their therapy,
22 or some alternative diagnosis, or something else.

1 But I don't think too many people would be
2 withdrawing one of those elements, including the
3 ICS, clinically in somebody who was already on it
4 and not doing well.

5 So it's clearly not a good idea, in some
6 subset of these patients, to take away their ICS,
7 but I don't think too many people would do that.

8 DR. STOLLER: Thank you.

9 Dr. Tracy has a comment, please.

10 DR. TRACY: Yes. It kind of goes right
11 along with Dr. Kelso. As far as the high-risk
12 group goes, one of the things about the IMPACT
13 study is they definitely looked at a sicker group.
14 I think it's fair to say that one can assume that
15 when you're looking at a group of individuals who
16 are particularly ill or are particularly at risk,
17 changing a therapy is probably not in your best
18 interest.

19 So to Dr. Kelso's point as to who would
20 remove that from a clinical practice standpoint, I
21 believe that the answer is not many people. Most
22 of us are looking for opportunities to make things

1 better, so it's a little bit of discordance here.
2 But I would say to the point of what makes this
3 different is the fact that the IMPACT study really
4 does look -- when you compare it against TORCH,
5 especially when you compare it against TORCH and
6 SUMMIT, we are looking at a different population.
7 Thank you.

8 DR. STOLLER: Thank you, Dr. Tracy.

9 Any other comments about question 2A from
10 the committee, please?

11 (No response.)

12 DR. STOLLER: Again, what I heard in summary
13 is that most clinicians would be loathe to withdraw
14 ICS therapy from patients who were deemed to have
15 severe COPD, group D, GOLD D, and so on. I think
16 that was the concordance of the two comments made.

17 Let's turn then to question 2B. Again, I'll
18 read the text of 2B, and ask if there's any
19 clarification required from the committee about the
20 wording. Question 2B is, the implications of
21 randomization to study drugs that do not contain
22 ICS among patients with uncontrolled COPD despite

1 prestudy ICS therapy.

2 Any questions about the wording of the
3 question?

4 I'm seeing no new hands raised. Dr. Tracy's
5 hand is presumably raised from his prior question.
6 These are clarifications on the text, I assume.

7 Dr. Marshall?

8 DR. MARSHALL: No. I'm sorry. I don't have
9 any trouble with the clarification.

10 DR. STOLLER: Okay. I'm sorry. It sounds
11 like the text of the question is clear, then let's
12 take comments.

13 Dr. Marshall, please?

14 (No response.)

15 DR. STOLLER: You may be muted.

16 DR. MARSHALL: I'm sorry; that's correct.
17 I'm guessing that all of us, certainly including
18 the sponsor, would love to have the advantage of a
19 retrospectroscope. I think there's little idea that
20 one would, in any sort of design like this, want to
21 withdraw inhaled corticosteroids from the group
22 that would be at highest risk for a negative

1 outcome, particularly related to mortality.

2 Having said that, again, as was introduced
3 not only by the sponsor but by the FDA in the
4 presentations that we all watched prior to this
5 meeting, the role of inhaled corticosteroids has
6 been very much controversial in the overall
7 management of patients with COPD, and to the best
8 of my knowledge that's still the case today. Not
9 everyone agrees that all COPD patients would
10 benefit from inhaled corticosteroids.

11 I think that that is changing steadily. I
12 think that there's more and more acceptance of the
13 idea of the role of inhaled corticosteroids, but in
14 the context of this specific component, I still
15 struggle with the idea of the relationship between
16 withdrawing and seeing an exacerbation as opposed
17 to adding on and seeing an improvement. The ideal
18 would be to see patients that were on it, they were
19 withdrawn, and then had a deterioration in their
20 condition, and then a design where it was added
21 back to see improvement.

22 I think that probably was not the nature of

1 the design of the data that we've been allowed to
2 see. I understand the difficulty in the design of
3 such a trial, but I think most people now are
4 getting to the point to recognize and agree that
5 the more severe COPD patient is likely to benefit
6 from inhaled corticosteroids on a regular
7 maintenance basis and that the severity of that
8 illness is this is not disease-modifying. It slows
9 down the progress of the disease, but the presence
10 of the inhaled corticosteroid allows the individual
11 to stay better controlled and have less serious or
12 severe exacerbations. That statistic has been tied
13 with mortality risk.

14 The sponsor acknowledges that there is a
15 logic progression, and they make what seems to me
16 to be a fairly compelling case of logic progression
17 in the use of inhaled corticosteroids affecting
18 exacerbation rate and the exacerbation rate
19 affecting mortality risk. That was not directly
20 examined in the studies that were presented,
21 specifically the IMPACT study, but it does bring up
22 an idea for us, with the implications -- this 2B

1 here -- to successfully pull someone off an inhaled
2 corticosteroid to show the inferiority of
3 management on non-ICS containing therapy. That's
4 the end of my comment.

5 DR. STOLLER: Thank you, Dr. Marshall.

6 This is Jamie Stoller. I have to say I
7 struggled with this issue, and I think the best
8 adjudication I can come to is that hindsight is
9 20/20. At the time that this trial was launched,
10 perhaps it wasn't deemed as imprudent to withdraw
11 an ICS from the severely exacerbating population, a
12 GOLD D or, if you will, certainly a severely
13 affected population, as perhaps might be the case
14 today.

15 I think most of us would regard a patient
16 who is severely affected with significant airflow
17 obstruction, certainly substantial if not
18 satisfying the traditional criterion for frequent
19 exacerbators, that it would be imprudent to
20 withdraw the drug. Whether this is tantamount, as
21 has been stated, to the advisability of adding the
22 drug for mortality benefit is the subject of this

1 discussion. I'm not sure those two questions are
2 conflated in my mind.

3 So I think through the current lens, it
4 would be imprudent to withdraw an ICS from a
5 patient on ICS who is deemed to have severe and
6 exacerbating COPD. That's the end of my comment.

7 Ms. D'Agostino has a comment, please.

8 MS. D'AGOSTINO: Yes, thank you. I agree
9 that I think it was more difficult to know at the
10 time of the study design that it potentially could
11 have been dangerous to withdraw these patients. I
12 think that we have to use the knowledge that we
13 have now, which is there is this increasing
14 evidence that it seems imprudent to withdraw
15 patients.

16 I know we'll get to clinical practice later,
17 but I think the question that we're really being
18 asked is, practically speaking, if we have a
19 patient in front of us, we're not really asked to
20 stop a patient's therapy to start the same thing;
21 you'd be looking to add a therapy on. So the more
22 practical question is, is there a benefit of adding

1 ICS, not is there a detriment to removing it and
2 restarting it on.

3 So given that it doesn't appear to be this
4 benefit to actually adding ICS to the ICS-naive
5 patients, that's really the sticking point for me,
6 and that's the end of my comment.

7 DR. STOLLER: Thank you, Ms. D'Agostino.

8 Dr. McCormack has a question, and then
9 Dr. Dodd.

10 DR. McCORMACK: I just wanted to bring up
11 something Dr. Wise alluded to, which was should
12 this be added to the label in another form. And
13 perhaps we're not talking about the same thing, but
14 as an adverse reaction, and I wondered if that's a
15 possible outcome of the decisions today.

16 DR. STOLLER: Thank you, Dr. McCormack.

17 Dr. Dodd?

18 DR. DODD: Hello. This is Lori Dodd. I
19 just want to bring up one comment I have heard us
20 sort of skate around or skirt around, and that is
21 this discussion about exacerbations and whether
22 it's a surrogate for mortality. I think when we're

1 talking about exacerbations predicting mortality, I
2 think we need to be very careful because, as we've
3 seen from the evidence of this trial, we have two
4 comparisons where we have proven effect on
5 exacerbations. In the one case, we're debating
6 whether there's an impact on mortality, and then in
7 the other case, we're not even debating it because
8 the evidence isn't there.

9 So the idea that exacerbations is a
10 surrogate endpoint for mortality I think just needs
11 to be considered carefully. If we move from this
12 question into thinking about exacerbations, and
13 because there was an effect on exacerbations,
14 therefore there is an effect on mortality, I think
15 that there's a lot of leaps in the logic there that
16 don't line up. Thank you.

17 DR. STOLLER: Thank you, Dr. Dodd.

18 Any other comments on this question 2B,
19 before I summarize and we go to C?

20 (No response.)

21 DR. STOLLER: Actually, prior to doing that,
22 I guess Dr. McCormack's question was posed to the

1 FDA, and we might invite the FDA to comment. He
2 asked a labeling question, so might we invite a
3 comment from the FDA to respond to Dr. McCormack's
4 question, please?

5 DR. BUSCH: Sure. This is Rob Busch. Your
6 question was about whether the issue of ICS removal
7 and some of the results we saw after that was
8 something that could be added in a different way to
9 the labeling, presumably safety.

10 This is a very important question, and we've
11 thought about these kinds of issues a lot during
12 the review cycle. In the context of today's
13 meeting, we're asking you primarily about whether
14 these concerns affect the interpretation of the
15 proposed efficacy claim on all-cause mortality for
16 this current application.

17 So while your discussions regarding the
18 safety concerns raised by this are something that
19 the agency definitely will consider, the
20 particulars of any potential safety labeling or
21 anything of that nature are somewhat outside of the
22 scope of the current discussion. I realize that's

1 somewhat unsatisfying as an answer, but we want to
2 make sure that we get the ideas about the efficacy
3 claim first, and then we have some leeway to
4 discuss how that could or should otherwise be
5 included in labeling.

6 DR. STOLLER: Thank you.

7 Dr. Medoff has a question, I believe, or a
8 comment.

9 DR. MEDOFF: Well, I was going to agree with
10 Dr. Dodd that I think making a leap from
11 exacerbations to mortality, although seemingly
12 logical, is problematic, as the point raised that
13 in the group that was naive to inhaled
14 corticosteroids, there was a reduction in
15 exacerbations but not an effect on mortality. So I
16 think although it does make sense, I think we
17 really can't make that leap necessarily, based on
18 these data.

19 DR. STOLLER: Thank you, Dr. Medoff.

20 Dr. McCormack, do you have another question
21 or might you lower your hand?

22 DR. McCORMACK: I do not have another

1 question. Sorry.

2 DR. STOLLER: Okay. Thank you.

3 So let me summarize what I believe I heard
4 with regard to 1B. There are really several themes
5 here, one with regard to the labeling, and the FDA
6 responded that our focus today is on the text as
7 proposed to us when we do come to a voting
8 question.

9 With regard to the clinical advisability of
10 withdrawing inhaled steroids from patients who are
11 severely affected with exacerbations and severe
12 flow obstruction, I think I heard relative
13 concordance that based on 20/20, both in terms of
14 visual acuity as well as chronology, 20/20 vision,
15 that most of us would not withdraw inhaled steroids
16 from such a patient, but that may not be tantamount
17 to the effect of adding steroids.

18 Then finally, several commented and reminded
19 us that while there's a plausible explanation based
20 on the Roheen [ph] data and others, and many others
21 certainly have clinical experience that
22 exacerbations are drivers of mortality, I think

1 there's little question about that. It's difficult
2 to conflate the impact on exacerbations and
3 mortality as we see it in this data set.

4 I think that's a reasonable summary of what
5 we heard on question 2B. Let's turn to then
6 question 2C. I will read, as before, the text of
7 the question and ask for questions about the
8 wording, and then we'll go to comments. Question
9 2C is, the observed time frame of the IMPACT
10 results; that is to say the early separation in
11 survival.

12 Any questions on the wording of the question
13 to 2C to the committee, please?

14 (No response.)

15 DR. STOLLER: I see no hands raised, so let
16 me put that question in play for comments from the
17 committee, please. Please raise your hand if you
18 have a comment.

19 (No response.)

20 DR. STOLLER: I'm seeing no hands raised.

21 Dr. May has her hand raised. Thank you,
22 Dr. May.

1 DR. MAY: This is the same question as was
2 under point 1, number C, and I'm wondering whether
3 everybody thinks that we had already answered it
4 under discussion point 1.

5 DR. STOLLER: Fair enough. I'm aware there
6 is some redundancy in the questions and that may
7 certainly account for it. I think in the interest
8 of full discussion, we do want to go through every
9 question, and if there are no comments, then so be
10 it.

11 Any other comments on 2C?

12 (No response.)

13 DR. STOLLER: Again, I will just make a
14 personal comment and then the summary, that I think
15 everyone's identified this early separation as
16 being an important consideration. The juggler
17 issue from my point of view is what's the
18 explanation for the early separation and what is
19 its relationship to mortality.

20 My experience with Dr. Busch's response to
21 my question is that the early separation mortality
22 is what tends to drive the ultimate differences in

1 mortality, as there were no differences in
2 mortality after 90 days, recognizing that those are
3 subgroup analyses. But I think that the crucial
4 question is regarding the early separation, whether
5 it's ascribable or attributable to withdrawal or
6 whether it somehow relates to the prescription of
7 the triple drug given the runoff and baseline ICS
8 utilization patterns in IMPACT, and I think that's
9 a reasonable summary of what we heard from the
10 entire group.

11 So if there are no other comments on 1C,
12 we'll turn to 1D. 1D is -- and I'll again read the
13 question and ask for clarification on the
14 wording -- the prestudy inhaled corticosteroid
15 subgroup data from SUMMIT and TORCH, in light of
16 the differences from IMPACT in study design and
17 patient population.

18 Any questions about the wording of question
19 2D, please?

20 (No response.)

21 DR. STOLLER: I see no hands raised, so let
22 me invite you to raise your hand for a comment on

1 question 2D, please.

2 (No response.)

3 DR. STOLLER: I'm seeing no hands raised.
4 I'm imagining that may, again, relate to Dr May's
5 comment on perhaps the redundancy of the question.
6 This in some ways replicates question 1B, the
7 replicate studies.

8 Dr. McCormack has a comment.

9 DR. McCORMACK: I just wanted to point out
10 that in the TORCH study where the drug was
11 different, there's at least a twofold difference in
12 potency of that drug versus fluticasone furoate.
13 Relative to beclomethasone, for instance, there's a
14 many-fold difference; 30, 30-fold difference or
15 some.

16 So I do think that perhaps that comparison
17 with TORCH was a little bit flawed, but I do agree
18 with you, Jamie, that we often as pulmonologists
19 consider inhaled steroids as a class effect and
20 don't really think much about potency. But perhaps
21 given the results of this trial, we should be
22 thinking about potency because furoate is at the

1 top of the potency chain, as far as I understand,
2 based on receptor affinity.

3 DR. STOLLER: Thank you, Dr. McCormack.

4 Dr. Ellenberg has a comment, then
5 Dr. Carvalho, and Dr. Busch has his hand raised.

6 Dr. Ellenberg, please?

7 DR. ELLENBERG: I think all these analyses
8 have been interesting. I think they're all
9 somewhat suggestive, some more so than others. But
10 again, to me that's really all they are. They
11 might suggest ways we might answer this question
12 definitively, but on their own, they certainly
13 don't do that or even in combination with the
14 IMPACT results.

15 DR. STOLLER: Thank you, Dr. Ellenberg.

16 Dr. Carvalho?

17 DR. CARVALHO: Yes, thank you. I'm
18 wondering whether using SUMMIT and TORCH as
19 head-to-head comparisons with the current study is
20 a valid point because both of those studies had
21 significantly less severity in their patients. In
22 all fairness, the IMPACT patients were much sicker.

1 That's one comment.

2 The second comment is we generally would not
3 remove an inhaled corticosteroid, but in some of
4 our COPD patients who develop repetitive
5 pneumonias, we would, but we would be loathe to do
6 that if we have a situation where the patient had a
7 high degree of reactivity or evidence of
8 eosinophilic bronchial inflammation or suspicion of
9 the overlap syndrome. So clinically we would go
10 either way, depending on the patient's baseline
11 function.

12 So I'm very curious to know about the
13 patients in the IMPACT study who diverged. What
14 were they like before. Did they have any
15 myocardial underlying disease? Because COPD
16 exacerbations can cause mortality with underlying
17 heart disease; so a few questions to think about.
18 Thank you.

19 DR. STOLLER: Thank you, Dr. Carvalho.

20 I believe we have a clarifying comment from
21 Dr. Busch from the FDA, please.

22 DR. BUSCH: Hi, Dr. Stoller. Thank you.

1 I just wanted to highlight that the
2 pertinent points that we're trying to get from
3 question 1 and question 2, question 1 focused on
4 the overall analyses, and this question 2 focuses
5 primarily on the prestudy ICS subgroup and whether
6 those subgroup analyses change how you think and
7 whether that affects the specific idea of ICS
8 removal or in the ICS-naive group. I think some
9 have gotten there, but I just want to make sure
10 that there's clarity on that issue.

11 DR. STOLLER: Thank you, Dr. Busch. That's
12 very helpful.

13 Dr. May has a comment.

14 DR. MAY: I was just looking at the slides
15 from the FDA, and I think this is slide 22, where
16 it was specifically addressed, the ICS removal and
17 addition across the trials in IMPACT, SUMMIT, and
18 TORCH. To support this labeling claim, I would
19 have wanted to see some evidence, even though it's
20 a different population, of benefit, particularly in
21 the group where the ICS was added, and truly added,
22 but that was not the case.

1 So I do think that the evidence from SUMMIT
2 and TORCH, just like Dr. Ellenberg said, is
3 potentially suggesting in some way, but it's not
4 suggesting in the way that is strong enough to
5 change any conclusions that we have seen from the
6 IMPACT study. Thank you.

7 DR. STOLLER: Thank you, Dr. May.

8 Any other comments about question 2D in the
9 context of Dr. Busch's reminder that question 2,
10 unlike question 1, is about the subset analyses of
11 ICS-naive versus ICS baseline recipients? Any
12 other comments about question 2D, please? Again,
13 I'll ask you to lower your hand if you don't have
14 additional comments, so I'm not conflating that
15 with new comments, please.

16 (No response.)

17 DR. STOLLER: Let me summarize what I think
18 I heard. First, Dr. McCormack reminds us that with
19 regard to the applicability of TORCH, the replicate
20 study, and as I recall, the sponsor's discussion
21 that the effects on those subset analyses in TORCH
22 was not concordant with that in SUMMIT, that these

1 are different drugs, and that's fair. The potency
2 of FF is quite a bit higher than that FP.

3 I think I heard, in general, about
4 question 2D and question 2, in general, that the
5 subset analyses were deemed very material to the
6 interpretation of the results. I also heard that
7 in acknowledgement of the fact that, if you will,
8 there are two different interventions embedded
9 within IMPACT -- one, the addition of a new ICS,
10 albeit to a different patient population as
11 Dr. Wise pointed out, that's separate from the, if
12 you will, restoration of triple therapy to a group
13 that was on ICS, some 71 percent as I remember, at
14 baseline in IMPACT -- that they're really two
15 different interventions.

16 The question before the committee is can one
17 conflate the addition of an ICS to the withdrawal
18 of an ICS as was discussed? I think that's what
19 we've heard in summary on question 2D in general.

20 Unless there are any other comments on 2D,
21 having heard none, let's turn to discussion 3.
22 There are four components. I will read the text.

1 We'll deal with 3A first. Discussion question 3 is
2 discuss the generalizability of the IMPACT
3 all-cause mortality data to relevant clinical
4 practice decisions about fluticasone furoate as
5 add-on therapy in COPD. Include the following
6 elements in your discussion. We're talking about
7 question 3A, the clinical relevance and
8 persuasiveness of the all-cause mortality results
9 from fluticasone comparisons among ICS-naive
10 populations -- subgroups of IMPACT, SUMMIT, and
11 TORCH.

12 Any questions about the wording of
13 question 3A?

14 (No response.)

15 DR. STOLLER: Seeing no hands raised, let me
16 invite comment from the committee on question 3A,
17 please.

18 I see Dr. Kelso's hand is raised, please.

19 DR. KELSO: Yes. As the patients were
20 coming into the study, which of course the study
21 investigators didn't have any control over that, it
22 appears that the clinicians that were taking care

1 of these patients may have done a good job already
2 deciding who needed to be on an ICS and who did
3 not, because in the group who were already on and
4 ICS as part of their therapy, removing it appeared
5 to be harmful; but in patients who were not already
6 on an ICS, adding it did not seem beneficial

7 So whatever went into the multitude of
8 individual clinical decisions that were made by
9 individual clinicians about these patients coming
10 into the study suggests to me that they were
11 already doing a good job deciding who needed to be
12 on ICS and who did not. Now, whether that's
13 because they think the patient also has a little
14 bit of asthma or whatever other they were
15 exacerbating more, whatever went into that
16 decision, it appeared to be that that decision had
17 been made correctly for the vast majority of
18 patients prior to coming into the study. Thank
19 you.

20 DR. STOLLER: Thank you, Dr. Kelso.

21 Ms. D'Agostino has a comment, please.

22 MS. D'AGOSTINO: Yes. I would add, I think

1 the evidence that I would really want to see as far
2 as deciding whether to add a therapy would be if I
3 had a patient who was not previously taking ICS and
4 wasn't controlled versus that these patients were,
5 although I recognize that the ICS-naive subgroup
6 was relatively better controlled than the not
7 ICS-naive subgroup, I would really want to see that
8 the ICS-naive subgroups who had a step-up therapy
9 received benefit.

10 I think that's really the relevant clinical
11 question, and those patients, there's really no
12 evidence that they received benefit. I think
13 that's the question that I really would want to see
14 answered in a trial, and the answer is there was no
15 benefit received. Thank you.

16 DR. STOLLER: Thank you.

17 Any other comments from the committee on
18 question 3A, please?

19 Dr. Dodd?

20 DR. DODD: Yes. I just want to support
21 D'Agostino's comment that we didn't see evidence of
22 benefit in this group. That's my only comment.

1 Thank you.

2 DR. STOLLER: Any other comments?

3 (No response.)

4 DR. STOLLER: So let me summarize then what
5 I think we heard about 3A. There was an
6 affirmation of the wisdom of the managing docs in
7 terms of ascertaining, prior to randomization, who
8 needed inhaled corticosteroids versus those who
9 didn't and a recognition that the addition
10 population -- that is to say the baseline ICS-naive
11 group -- was different, as we've seen, than the ICS
12 at-baseline group; again, an affirmation that the
13 managing docs seemed to recognize those differences
14 by virtue of their pretrial randomization
15 management, if I understood the comment correctly.

16 That then concludes the discussion of 3A.
17 Let's turn to 3B. I will again read the question
18 and invite comments or questions about the wording
19 of the question before we comment on the question.
20 Question 3B is the clinical relevance of data from
21 the prestudy ICS subgroup to inform decisions
22 regarding the addition of fluticasone furoate.

1 Any questions about the text of the question
2 3B?

3 (No response.)

4 DR. STOLLER: I see no hands raised, so let
5 me invite comments on question 3B, please.

6 Dr. May's hand is raised, please.

7 DR. MAY: Suzanne May. I have to preface
8 this, that I'm not a clinician; I'm a
9 biostatistician. But it seems to me as if
10 information with regard to the ICS subgroup that
11 had the ICS before and the withdrawal of it cannot
12 give good information with regard to the addition
13 of the FF for people who have been ICS-naive.
14 Thank you.

15 DR. STOLLER: Thank you, Dr. May.

16 Ms. D'Agostino?

17 MS. D'AGOSTINO: Yes. I would agree with
18 Dr. May. I think as the FDA pointed out very well
19 in their briefing document, unless your trial is
20 really asking the question of what happens if you
21 remove a therapy versus continue it, which is not
22 what this trial purported to be asking, I don't

1 think it's set up well to ask a question of what
2 happens when you add an ICS to someone who already
3 takes an ICS.

4 I don't think that makes a lot of sense as a
5 question to actually ask, as Dr. May just pointed
6 out very well. It's not really set up very well to
7 answer the question of what happens when we add a
8 steroid to a group who is already on the therapy.
9 Thanks.

10 DR. STOLLER: Thank you.

11 I'll make a comment as, again, a clinician
12 who manages these patients, as many of you do, that
13 of course recognizing the desire of a study that
14 looks exclusively at the addition of an inhaled
15 steroid in a severe population, the practical
16 realities of that are quite daunting in the sense,
17 as was pointed out, the managing physicians in this
18 study were doing a good job of making that
19 ascertainment at baseline.

20 The practical realities of conducting a
21 trial of group D or severely exacerbating, severely
22 obstructed patients -- given current

1 understandings, GOLD, et cetera, of ICS -- are
2 difficult to do from a practical point of view. To
3 accrue a population of 10,000 such patients with
4 severe exacerbating COPD who are naive to ICS would
5 be, I think we need to acknowledge, difficult to
6 do. So that would be my comment.

7 Dr. Tracy has a comment, please.

8 DR. TRACY: Yes. Jim Tracy. You kind of
9 chimed in right about when I was going to key in
10 here. The question really is about the clinical
11 relevance of the data, and to Dr. May's point, I
12 understand the study issues. But from a practical
13 standpoint, as I reflected both on the
14 presentations, the pre-meeting stuff, and what we
15 discussed here, the basic thing is how does the
16 addition of FF come into play, and I think it does
17 good stuff. We clearly can't discern about the
18 addition, but what we clearly can discern is what
19 happens when it goes away.

20 So when we look at this thing from a
21 clinical relevance standpoint, I think the presence
22 of FF in this whole process, in this drug, or

1 whatever regimen that the guy who's sitting in the
2 clinic room and makes their decision, it's a good
3 thing. We also recognize from this is that taking
4 it away is probably not a good thing. So as we
5 reflect on that, there are a lot of statistical
6 issues we have to bounce back and forth in
7 questions, but in the end, FF is a good thing in
8 this particular group. Thank you.

9 DR. STOLLER: Thank you, Dr. Tracy.

10 Any other comments on 3B, question 3B?

11 Dr. Ellenberg? Sorry. Thank you.

12 DR. ELLENBERG: Yes. I was just thinking
13 about FDA's interest in recent years on enrichment
14 designs, a randomized withdrawal design, where you
15 start everybody on a certain treatment, and then
16 you randomize some of them to stop it and some of
17 them to keep on taking it, and determine whether
18 the treatment is really effective by seeing whether
19 people who keep taking it are doing better than
20 those who stopped.

21 That has some similarity to this, although I
22 think the issue here is whether -- it's not just

1 that people stop benefiting from their treatment or
2 whether they're actually harmed by stopping it, but
3 I wonder if the FDA would want to comment on the
4 difference in this situation from the idea of a
5 randomized withdrawal design, where they would use
6 that kind of a design to establish efficacy with
7 regard to a particular outcome.

8 DR. STOLLER: Comment from the FDA, please?

9 DR. BUSCH: Sure. Thank you for that
10 question. This is Robert Busch. If we can still
11 bring up slides, then I would bring up the clinical
12 program slide deck by me, Dr. Busch, slide 31.

13 This is a great question about randomized
14 withdrawal or removal of design, and we definitely
15 had some back and forth about this internally as
16 well. You heard Dr. Han mention it and Dr. Wise
17 mention it.

18 I'm going to try and focus on how randomized
19 withdrawal applies to IMPACT. First, I think it's
20 been mentioned back and forth, we usually perform
21 randomized removal trials among patient groups
22 where this decision might be considered in clinical

1 practice, like patients in remission from
2 inflammatory diseases or where signs and symptoms
3 are perhaps best controlled. As we've mentioned,
4 it seems inconsistent with current practice to say
5 that we see a patient on triple therapy who still
6 has uncontrolled symptoms and frequent
7 exacerbations, and then we jump to a decision about
8 ICS removal.

9 DR. BAUTISTA: Dr. Busch, can you repeat
10 what slide number that you want?

11 DR. BUSCH: It was clinical programs slide
12 deck, Overview of the Clinical Program, slide 31.
13 I can quickly summarize.

14 Yes, this is the baseline disease
15 characteristics and, again, I'm just highlighting
16 that there's a lot of frequent exacerbators, a high
17 St. George's Respiratory Questionnaire and an FEV1
18 that's quite low, all implying that these folks are
19 uncontrolled and pretty sick. Again, it was
20 commented that clinicians that are taking care of
21 them might have had some sense of what they needed.

22 If you could bring up the summary

1 presentation slide deck, slide 25 by
2 Dr. Karimi-Shah. The second point is that
3 randomized removable data are probably most useful
4 when there isn't an acute or subacute effect
5 associated with drug removal. This is a
6 challenging topic for ICS, and I think a few of
7 you -- I think Dr. Medoff sort of hinted at this as
8 well.

9 If this trial recruited patients on chronic
10 oral corticosteroids for over 3 months, we wouldn't
11 really be debating that the systemic effects of
12 acute steroid withdrawal could be harmful, and we
13 wouldn't use data from that subacute removal time
14 period to say that adding oral steroids was
15 efficacious, or potentially wouldn't.

16 But the problem with inhaled corticosteroids
17 is that the degree and duration of pharmacodynamic
18 removal effects are less well quantified, to my
19 mind, in clinical trials, and then how long that
20 pharmacodynamic effect could have an impact on
21 clinical outcomes is also unclear because
22 ICS-removal trials over the years have shown

1 different clinical results on different endpoints,
2 in different patient groups, as all of you have
3 alluded to at different points.

4 But I looked through this as best I could.
5 If you look back at studies like this from Liesker
6 and colleagues in 2011, among a few others, there's
7 data that suggests an association between
8 exacerbation after ICS removal and an increase in
9 sputum inflammatory cells, serum myeloperoxidase,
10 and a trend towards higher serum CRP. Data from
11 Koon and colleagues in 2017 from the GLUCOLD trial
12 also suggest an increase in bronchial CD3 cells,
13 CD8 cells, mast cells, higher sputum total
14 cellularity; macrophages, neutrophils, and
15 lymphocytes.

16 The issue for me is that neither of these
17 studies detail the evolution and time course of
18 these changes completely, so these relationships
19 with inflammatory factors after ICS removal could
20 suggest a withdrawal, though we can't say that
21 there are longer term effects that represent just
22 reversion to the patient's inflammatory state if

1 they had never taken ICS, or a mixture of these
2 short-term and long-term effects, so it's
3 difficult.

4 If we already had convincing data that
5 proved that the addition of ICS improved mortality,
6 then the interpretation might also be slightly
7 different, but we don't have that from IMPACT,
8 SUMMIT, and TORCH. So because of both that
9 generalizability issue and the potential influence
10 of an acute withdrawal effect, I think we have to
11 look more critically at randomized ICS removal in
12 IMPACT and not necessarily -- or it is up to you to
13 tell us, hopefully, whether you feel that ICS
14 removal equates to ICS addition. Thank you.

15 DR. STOLLER: Thank you.

16 We have a comment from Dr. Ellenberg, and
17 then Dr. Tracy, please.

18 DR. ELLENBERG: No, that was my question, so
19 thank you.

20 DR. STOLLER: Okay. Dr. Tracy, please?

21 DR. TRACY: Yes. Jim Tracy. As I've kind
22 of wrestled with this stuff for a while, if we

1 start with at least a limited perspective that
2 exacerbation is a surrogate for mortality, and I
3 realize that that's not exactly a one-to-one here,
4 the whole idea of step-down therapy is certainly a
5 reasonable direction clinically.

6 From a study standpoint, when I first read
7 this, I kind of wrestled with the -- well, first of
8 all, if we recognize that exacerbations may be a
9 surrogate of mortality, why would we want to place
10 somebody in this position? And I realize there are
11 statistical questions that need to be answered, but
12 I really wrestled with that. And I kind of wonder
13 what -- I'd really like to hear -- I'm blocking on
14 his name here -- Dr. Busch's statement on that.

15 I mean, how do you wrestle with at least the
16 potential ethical considerations of a withdrawal of
17 a therapy, where we're trying to answer the
18 question, at least from a surrogate standpoint,
19 exacerbations as a surrogate of mortality? I'd
20 love to hear the FDA's response on that.

21 DR. STOLLER: Dr. Busch?

22 DR. BUSCH: The ethical questions are

1 challenging, and this is a very important question,
2 obviously. I guess I can say it this way. I think
3 review teams at FDA do our best to protect the
4 safety of subjects in clinical trials and that we
5 have to accept responsibility here for not
6 potentially predicting the scenario. There are
7 plenty of small factors that could go into this,
8 but the bottom line is that sometimes we don't
9 recognize an issue until we review the final data.
10 I've certainly been concerned with this issue.

11 But with this trial, once we found this
12 ICS-removal issue and performed these analyses, we
13 understood that it was something that required
14 public and transparent discussion to understand
15 because decisions about this could affect, most
16 importantly, COPD patients in practice and in
17 future trials, as well as this all-cause mortality
18 claim.

19 So that is why, or partially why, we've
20 brought it to the meeting today. What we do about
21 it in the context of other trials I think partially
22 depends on the discussion. We wanted to understand

1 the broader discussion in the community from y'all
2 about whether this interpretation was something
3 that was agreed with, frankly.

4 I hope that answers your question to some
5 degree. I don't think we're in a position, within
6 the scope of this meeting, to say what we're going
7 to do going forward yet. I think we are asking
8 y'all about these issues, and then we will make
9 determinations about that going forward.

10 DR. TRACY: And I appreciate that. I really
11 wrestled with this issue ever since I read the
12 briefing documents, and I think that, really,
13 again, for those who are not clinicians out there,
14 the concept of a step-down treatment process is
15 certainly not novel, but I think that how we look
16 at that from an investigational and regulatory
17 standpoint is really critical, and I felt it was
18 necessary to bring that to our attention. Thank
19 you.

20 DR. STOLLER: This is Jamie Stoller. I'll
21 make a comment with regard to this issue and then
22 try to summarize, unless there are other comments

1 from the committee.

2 I think we're all wrestling with the issues
3 embedded here, which is the withdrawal versus the
4 addition in a severe patient population. I think
5 we can all acknowledge that prior withdrawal
6 studies, WISDOM, SUNSET, and others, have addressed
7 a much more mildly, well-controlled population for
8 ICS withdrawal than is the case in IMPACT, which
9 was a severe patient population, CAT score above
10 10, frequent exacerbations, pretty severe airflow
11 obstruction, et cetera.

12 While I think certainly I and, I suspect
13 from our comments, many on the committee would love
14 to have a trial that focuses on the addition of ICS
15 to a severely baseline exacerbating population, I
16 think I mentioned before that the practical
17 realities of that, given, as was pointed out
18 before, the wisdom of the managing group of
19 physicians here, would be very difficult to accrue
20 a population of 10,355 patients who were severely
21 affected just on the verge of their physician or
22 being randomized to add an ICS.

1 So the strange paradox of this scenario, in
2 my view, is that on the one hand, we have a trial
3 what in 20/20 vision might be ethically suspect to
4 withdraw steroids from a severe population, which
5 was probably not the case when this trial was
6 initiated; hence, the question wasn't asked early
7 on by either the agency or the sponsor.

8 I think it probably would be asked today,
9 and almost makes the question of isolating the
10 addition of an ICS to a severely exacerbating
11 population regrettably -- I'm sure smarter people
12 than I can figure out adaptive designs and so on,
13 but relatively difficult to answer. So that's my
14 personal comment with regard to this.

15 I see Dr. Dodd has a comment before I
16 summarize on 1B.

17 Please, Dr. Dodd?

18 DR. DODD: Yes. Can you hear me?

19 DR. STOLLER: Yes.

20 DR. DODD: This is Lori Dodd. I think this
21 question may go to the agency. In terms of
22 approvals for drugs, clearly this approval was

1 given based on the primary endpoint of
2 exacerbation. So this discussion about all-cause
3 mortality and this dilemma that we're talking
4 about, is it really necessary for evaluating new
5 drugs or is an endpoint of exacerbation an
6 appropriate endpoint for the population that we're
7 talking about right now?

8 DR. STOLLER: This is for the FDA. Let me
9 make --

10 DR. DODD: Yes.

11 DR. STOLLER: Let me just make one
12 clarifying question, as I think I misspoke. This
13 is question 3B, not 1B, as I may have misstated.
14 So we're responding to question 3B. Please, this
15 is a question for the agency.

16 DR. DODD: Yes, I guess Dr. Busch perhaps.

17 DR. BUSCH: I can give it a shot. This is
18 Dr. Busch.

19 My understanding of your question is, is
20 all-cause mortality an appropriate endpoint for
21 COPD patients of this severity? Is that correct?

22 DR. DODD: Actually, I think we would

1 probably agree it is an important endpoint, but is
2 it a necessary endpoint for approval? Would an
3 exacerbation endpoint be sufficient?

4 DR. BUSCH: Thanks for the clarification.

5 I think in one of my earlier presentations,
6 I mentioned the context of this application.
7 Trelegy Ellipta is not a new medication. It is on
8 the market. A decision on this today will not add
9 or subtract a drug from the market. This is purely
10 trying to get to the issue of whether there is
11 substantial evidence here.

12 Of course, I agree with you that both
13 exacerbations and especially all-cause mortality
14 are very important, severe exacerbations, all of
15 these things are very important endpoints in COPD
16 for patients, for their providers who are trying to
17 help them, and for everybody involved. But again,
18 in this context, it's not a new drug. We have
19 approved drugs on exacerbations alone.

20 I hope that answers your question. Is that
21 reasonable?

22 DR. DODD: Yes. Thank you.

1 DR. BUSCH: Thanks so much.

2 DR. STOLLER: Any other comments on 3B from
3 the committee, please? And I'll ask those of you
4 have your hands raised to lower them, if you would,
5 so I can distinguish between new questions and
6 recurrent questions.

7 (No response.)

8 DR. STOLLER: No hands raised. Thank you.

9 So let me summarize what I think I heard on
10 3B. There was a general discussion about, on the
11 one hand, the desirability of isolating the impact
12 of adding inhaled steroids to a severely
13 exacerbating, severely affected COPD population,
14 but the recognition that this is a daunting
15 challenge given the current understanding, and in
16 some ways is validated by the comment that the
17 managing docs in IMPACT seemed to do a pretty good
18 job of
19 discriminating those patients who needed an ICS at
20 baseline versus those who didn't, recognizing that
21 those two subsets analyses represent different
22 patient populations.

1 We're also reminded by the agency that the
2 discussion here, the voting question, is really
3 about a labeling indication, not about the
4 availability of the drug, which is already approved
5 and has been approved, as I understand, on the
6 basis of the exacerbation data that were shown here
7 and published in the New England Journal by
8 Dr. Lipson and others.

9 So I think that summarizes our discussion of
10 3B. Let's turn to question 3C. I will, again,
11 read the question, ask for comments or questions
12 about clarifying the text, and then we'll open the
13 question for the committee's comment. 3C is the
14 clinical relevance of the IMPACT trial design and
15 its ability to assess the benefit of adding
16 fluticasone furoate.

17 Any questions on the wording of the
18 question?

19 (No response.)

20 DR. STOLLER: Seeing no hands raised, let me
21 invite comment on question 3C, please.

22 (No response.)

1 DR. STOLLER: I'm not seeing any hands
2 raised.

3 Ms. D'Agostino has a comment, please.

4 MS. D'AGOSTINO: Yes. This is Emma
5 D'Agostino. Another issue that I've been thinking
6 about -- which I realize that we're being asked to
7 directly assess the benefit of adding steroid. But
8 as we're talking about the study design and as
9 Dr. Busch mentioned, our comments here may impact
10 future trial design, something that I've been
11 thinking a lot about is how this trial could have
12 been designed better and how future trials really
13 could be designed.

14 But one question I had is, really, is the
15 question that this trial needs to answer just
16 whether step-up therapy is beneficial. That's
17 certainly one question that would be great.

18 But to your great point, Dr. Stoller, that
19 in an exacerbating population, most patients are
20 going to be on ICS already, I think another
21 relevant question is, is there benefit to moving
22 from two or three inhalers to a single combination

1 inhaler? Because there would potentially or likely
2 be a benefit in adherence. If it's easier to take,
3 we know that people will take it more.

4 While, this trial wasn't particularly set up
5 to address that, that's another thing that I've
6 been thinking about, is why not compare three
7 single inhalers or a dual plus whatever -- I guess
8 it would be the ICS that would be part of the dual
9 inhaler. I can't remember now what is included in
10 the dual inhalers. Why not compare the three
11 single inhalers versus a combination inhaler and
12 judge for noninferiority or see if there's any
13 improvement? Thank you.

14 DR. STOLLER: Thank you, Dr. D'Agostino.

15 Other comments from the committee?

16 Dr. Dodd?

17 DR. DODD: Hello. Lori Dodd. I was going
18 to say that I think we've addressed this question
19 in previous discussion and the limitations of this
20 study design being that so many patients enrolled
21 with the FF are already on board, so it really
22 limits the generalizability of this study to make

1 comments about the benefit of adding product. So
2 it's something we've already discussed pretty
3 extensively.

4 DR. STOLLER: Fair enough. I guess I'll
5 take the chair's prerogative and ask the committee
6 whether there are other thoughts on the practical
7 realities of conducting a trial. This is a hard
8 question. I certainly don't have the answer
9 myself; hence, my asking; whether it's possible to
10 imagine a trial design that would address
11 specifically the question of adding an inhaled
12 steroid to a severely exacerbating, severely
13 affected COPD population, a GOLD D, for example.

14 (No response.)

15 DR. STOLLER: Well, we have asked a hard
16 question. I can't answer it myself,
17 so -- Dr. Shapiro has a comment. Please?

18 DR. SHAPIRO: Yes, I don't have the answer,
19 but I do think it's a tough one. You're going to
20 have to start earlier with a lot of patients, and
21 no one's going to have the patients to do that.
22 That's why you're going to need adaptive trials.

1 You're going to need biomarkers and precision
2 medicine to limit the population because the cat's
3 sort of out of the bag.

4 We probably have something that's disease
5 modifying, mortality modifying, but it's difficult
6 to prove because of the confounding factors and
7 where we're at clinically already.

8 DR. STOLLER: Thanks, Dr. Shapiro.

9 Dr. McCormack? I'm sure you have the
10 answer.

11 DR. McCORMACK: I don't have the answer, but
12 I wanted to bring up the idea again that maybe a
13 trial that randomized people to inhaled steroids of
14 different potency and look at the effectiveness,
15 and also the safety of inhaled steroids of two
16 different potencies might provide some information
17 about the benefit of adding corticosteroids and
18 also the risk, inhaled corticosteroids.

19 DR. STOLLER: Fair enough, although, again,
20 outside of the scope of this discussion, I'll just
21 make the comment, as I struggle with that, that
22 ETHOS, of course, did compare, although a different

1 inhaled steroid, two different doses and showed no
2 benefit of the higher versus the lower dose in that
3 particular trial; but of course that's outside of
4 the domain of this particular discussion.

5 Dr. Ellenberg has a comment.

6 DR. ELLENBERG: Yes. The IMPACT trial
7 demonstrated that you reduced the severe
8 exacerbations, so in a disease like this, that's
9 clearly enough for the FDA to approve this drug. I
10 guess I'm not sure how critical it is to show that
11 there's a survival benefit. In a disease like
12 this, if you are improving people's quality of
13 life, in some cases perhaps even with a slight
14 reduction in survival, which certainly doesn't seem
15 to be the case here, that's worthwhile.

16 So I'm not sure how critical it would be to
17 try and think of how we could actually prove, once
18 and for all, whether there was a survival benefit.
19 It may be that a survival benefit may not be shown
20 unless there's some new therapy, some new class of
21 therapy, that's added to what we already have that
22 can do it.

1 DR. STOLLER: Thank you.

2 Dr. Ellenberg has a comment, and then
3 Dr. McCormack.

4 DR. ELLENBERG: Dr. Ellenberg just gave her
5 comment.

6 DR. STOLLER: Oh, sorry. Forgive me.

7 Dr. McCormack, you have another comment,
8 please?

9 DR. McCORMACK: I just wanted to raise the
10 issue that a scenario that does come up in practice
11 is in a patient with severe COPD who's had multiple
12 admissions for pneumonia over a short period of
13 time, we do consider withdrawal of inhaled steroid
14 in those patients. And I agree with Jamie, it
15 would be hard to find a population big enough to do
16 a randomized trial, but it is a clinically relevant
17 question of whether we should be withdrawing
18 inhaled corticosteroids in some of our severe COPD
19 patients.

20 DR. STOLLER: Right. Again, for
21 clarification, I think I understand, Frank, that
22 you're saying that such a trial would require, as a

1 baseline, inclusion criteria and the occurrence of
2 multiple pneumonias, or at least one pneumonia,
3 which would of course be the clinical indication to
4 think about discontinuing an inhaled steroid given
5 the data about inhaled steroids and pneumonia.

6 Is that correct? Am I hearing you right?

7 DR. McCORMACK: Yes.

8 DR. STOLLER: Okay. Other comments on
9 question 3C before we turn to question 3D?

10 (No response.)

11 DR. STOLLER: So seeing no new hands, let me
12 summarize what I think I heard. Again, this has
13 been sort of discussed indirectly before, but I
14 think there's a general sense that IMPACT is
15 challenged in its ability to isolate the impact of
16 the benefit of adding inhaled steroid, FF in this
17 case, to a severely impaired population, given what
18 is the practical realities of conducting such a
19 trial.

20 The point remains that if one were designing
21 such a trial, which goes to comments that the FDA
22 will ask in the voting question, that the

1 withdrawal of an ICS from the severely affected
2 population -- Dr. McCormack's comment -- perhaps to
3 replicate the clinical question that arises, would
4 require a baseline population of severely affected
5 COPD with exacerbations who had previously
6 experienced pneumonia, which would be the clinical
7 driver of consideration of withdrawal of an inhaled
8 steroid.

9 I think we all recognize that that Venn
10 diagram of those overlapping subsets may be
11 sufficiently small, that it would be perhaps
12 difficult to mount such a trial certainly of the
13 magnitude of 10,355, which is so impressive about
14 IMPACT.

15 So I think that's a reasonable summary of
16 what we heard on 3C. Let's now turn to
17 question 3D. And again, I'll read the question and
18 ask for clarifying questions about the text, and
19 then we'll ask for comments. 3D is the clinical
20 implications of the proposed labeling claim in
21 light of the submitted data. I wonder if it would
22 be helpful to put the slide up with the labeling

1 text when we address this question.

2 Let me first ask if there are any comments
3 on the text of the question from the committee,
4 please?

5 (No response.)

6 DR. STOLLER: So again, it's the clinical
7 implications of the proposed labeling claim in
8 light of the submitted data.

9 Could I ask for the slide to be shown of the
10 actual labeling text, proposed labeling text,
11 please, so that everyone could be reminded about
12 this?

13 DR. BUSCH: Hi. This is Dr. Busch. For
14 clarity to our AV staff, this comes from the Charge
15 to the Committee slide deck by Dr. Karimi-Shah.
16 It's slide 2.

17 We're pulling this up for your view, and we
18 are asking you to comment about it in this
19 question. I do think it's important to emphasize
20 that the voting question does not ask you to make a
21 determination on the exact wording of the claim;
22 instead, we'll be asking you if you know whether

1 the submitted data from IMPACT provides substantial
2 evidence of efficacy for an all-cause mortality
3 claim. But this question asks about the wording
4 and things like that. So I just wanted to clarify
5 that as well. Thanks.

6 DR. STOLLER: Thank you, Dr. Busch. Yes,
7 agreed.

8 I think there's another bullet point,
9 perhaps, on the proposed labeling claim.

10 DR. BUSCH: Yes. Could you advance the
11 slide, please?

12 Thank you.

13 DR. STOLLER: Yes, thank you. That's it.

14 So again, I'll read the question; the
15 clinical implications of the proposed labeling
16 claim in light of the submitted data.

17 Dr. Dodd has a comment.

18 DR. DODD: Actually, I have a clarifying
19 question. This asks about the clinical
20 implications of this new claim, but doesn't it make
21 sense to juxtapose that against the existing claim?
22 I understand the existing label refers to the

1 Trelegy Ellipta effect on exacerbation reduction;
2 is that correct? I don't know if the FDA could
3 clarify.

4 DR. STOLLER: Sure. Dr. Busch?

5 DR. BUSCH: Yes, no problem. You're correct
6 in saying that there are a few different claims for
7 Trelegy Ellipta in Section 14 of the labeling,
8 including a section on exacerbations. So the
9 proposed labeling claim here would be in addition
10 to the other things that are there. Sorry if that
11 wasn't clear before.

12 So the question is whether we add this to
13 the labeling, not changing the previous label, or
14 whether we do not add it to the labeling.

15 DR. DODD: Thank you.

16 DR. STOLLER: Does that answer your
17 question, Dr. Dodd?

18 DR. DODD: Yes, thank you.

19 DR. STOLLER: Okay. Any other questions
20 about the text?

21 Dr. Kelso has his hand raised. Is this a
22 question about the text?

1 DR. KELSO: No, actually. It's about the
2 discussion itself.

3 DR. STOLLER: Okay, great; then, please. I
4 gather there are no other questions about the text.

5 Dr. Kelso, comment, please.

6 DR. KELSO: This labeling claim, the
7 insertion or addition to the label, in the first
8 bullet it says that there's a reduction in
9 all-cause mortality by 27.7 percent, which through
10 our discussion we have suggested may not be a
11 reduction because of being on the triple therapy,
12 but rather the removal of the ICS component.

13 The second bullet just further makes that
14 point by then isolating the ICS-naive group from
15 those already on ICS therapy, which shows an even
16 larger difference in all-cause mortality in those
17 who are already on ICS to start with, meaning now
18 you're pulling out the people who had their ICS
19 withdrawn.

20 So I think the clinical implication of this
21 label is that a clinician would look at this and
22 say, "Gosh. Being on this medication will decrease

1 my patients with COPD their chance of dying by
2 27.7 percent, or maybe even nearly 40 percent," and
3 from our discussion, we just don't think that's the
4 case.

5 You could look at this as a clinician and
6 say, "Well, there's some subgroup of people who
7 really are going to benefit from being on this
8 treatment, and I can't identify those people ahead
9 of time, so I'll just put everybody on it, thinking
10 that I'm reducing everybody's mortality."

11 But as we've said, it may not be benign.
12 Being on the ICS, there is an increased risk of
13 pneumonia. Maybe the reason that it was bad to
14 remove it is because you did something to the
15 patient by putting them on it in the first place
16 that changed their inflammatory milieu or something
17 else, that only made it bad to withdraw it because
18 they got put on it in the first place.

19 So there's enough unanswered questions here
20 that I just don't think having this in the
21 label -- the implication of this for most
22 clinicians of wanting to put a patient on this

1 medicine to reduce their patient's chance of dying,
2 I don't think we have the information to support
3 that conclusion.

4 DR. STOLLER: Thank you, Dr. Kelso.

5 I'll make a comment as a member of the
6 committee. I want to echo the comments that were
7 made in public hearing and others that we all are
8 desperate for evidence of a drug that changes the
9 mortality curve in COPD. This would be of course
10 highly desirable. I think everyone would
11 acknowledge that.

12 The question that's being posed in 3D is
13 regarding the clinical implications of management,
14 recognizing that, again, the drug is available and
15 the clinicians have access to the paper published
16 by Dr. Lipson and colleagues in the New England
17 Journal. So the data are available in the public
18 domain.

19 But the question in my view is what are the
20 implications -- and Dr. Kelso has alluded to
21 this -- of putting this labeling in the package
22 insert and creating the impression that, in fact,

1 there is a survival benefit by the addition of
2 Trelegy, given the challenges that we've understood
3 in ascertaining whether this is a withdrawal effect
4 or an addition effect, and the complexity of that
5 issue? So I struggle with the clinical
6 implications of labeling in terms of the
7 implications for clinical management.

8 My other comment on the label would be
9 regarding the last sentence, actually what follows
10 the semicolon, "the clinical relevance of these
11 results is unknown." The last sentence, "in the
12 non-ICS subgroup, the evaluation of all-cause
13 mortality was limited by the small sample size." I
14 would say that if the label were to go forward, it
15 should say, with regard to all-cause mortality in
16 the non-ICS subgroup, that there was no
17 demonstrable difference; not that the data are
18 necessarily limited by small sample size, which is
19 of course a problem with all of the subsets. So
20 that would be my comment on 3D.

21 Dr. McCormack has a comment.

22 DR. MCCORMACK: I had a comment very similar

1 to both yours and Dr. Kelso's. But I would just
2 add another therapy that has survival benefit in
3 COPD that we all grew up with. Considering it as
4 having a mortality benefit, it's been very hard to
5 change practice with the prescription of oxygen in
6 our patients, despite the evidence from the LOTT
7 trial. So once this gets into the public domain
8 and people start to think about mortality benefit,
9 I think this drug will be prescribed in a way that
10 may not be safe, given the pneumonia risk.

11 DR. STOLLER: Okay. Other questions about
12 3D, please?

13 Dr. May, please?

14 DR. MAY: On face value, it seems as if the
15 one sentence could be really misleading when it
16 says, "Post hoc subgroup analysis of all-cause
17 mortality were conducted for subjects on ICS
18 therapy at screening, and those not on screening in
19 the ICS subgroup, Trelegy reduced the risk of
20 all-cause mortality," one could think the way that
21 this is worded that staying on a triple therapy
22 reduces mortality compared to -- and then the

1 question is compared to who? It might be implied
2 that it's those that are only on ICS therapy before
3 or that the components that are not relating to ICS
4 create that difference.

5 So I think this would raise, from my
6 perspective -- again, I'm not a clinician -- more
7 questions than it would answer and have the
8 implication that we've discussed at length before
9 with regard to withdrawal that is not clarified
10 here. Thank you.

11 DR. STOLLER: Thank you, Dr. May.

12 Any final comments on 3D?

13 (No response.)

14 DR. STOLLER: Hearing none, let me
15 summarize. I believe what I heard, there were some
16 questions raised about the clinical implications of
17 the label. Dr. McCormack raised the question
18 extrapolated from LOTT, where those non-clinicians
19 showed that the non-prescription of supplemental
20 oxygen in patients with COPD, in a particular
21 subset not quite as severe as before, has, in
22 general, not resulted in the withdrawal of

1 supplemental oxygen, and worried about the
2 implications of the genie is out of the bottle, if
3 you will. I think I heard several concerns about
4 the labeling text, which the agency has heard. I
5 won't repeat those.

6 So at this point, I think we've now
7 completed our discussion of the discussion
8 questions 1 through 3, and if there are no further
9 questions on these discussions, we'll now move on
10 to the final question, which of course is a voting
11 question. Dr. Phil Bautista will provide the
12 instructions on the voting, please.

13 DR. BAUTISTA: Hi. This is Phil Bautista.
14 For voting members, we'll be using email to submit
15 their vote for this meeting. All voting members
16 should have received their voting email. Please
17 remember to reply "all" when submitting your vote.
18 Again, please reply "all."

19 If we do not receive your vote within five
20 minutes from the time Dr. Stoller opens the vote,
21 we'll contact you directly. After everyone has
22 submitted their vote, the vote will be compiled

1 while we take a brief break. When we return from
2 break, the vote will be closed and all votes are
3 final.

4 The vote results will then be displayed on
5 the screen. I'll read the vote results from the
6 screen into the record. Dr. Stoller will go down
7 the roster and each panel member who voted will
8 state their name and how they voted into the
9 record. You can also state the reason why you
10 voted as you did if you want to. We'll continue
11 down in the same manner until the question has been
12 answered or discussed for everybody.

13 Are there any questions about the voting
14 process before we begin; with raised hands?

15 (No response.)

16 DR. STOLLER: I see no hands raised.

17 Okay. So at this point, as we have before,
18 I will read question 4, and I will ask for any
19 clarification on the text of the question before we
20 actually vote. Question 4 is a voting question.
21 Do the data from the IMPACT trial provide
22 substantial evidence of efficacy to support the

1 claim that Trelegy Ellipta improves all-cause
2 mortality in patients with COPD? The lettered
3 subset question, if the answer is no, what further
4 data are needed?

5 Are there any questions about the text of
6 the question, question 4?

7 Dr. Tracy has his hand raised, or not?

8 Dr. Tracy?

9 DR. TRACY: Just for clarity here, in our
10 email, do you want us to answer the "no" part or
11 the "A" part, what data is needed, or do you want
12 that as part of the discussion?

13 DR. BAUTISTA: Hi. This is Phil Bautista,
14 DFO. When you do email your vote, please reply
15 "all" to the FDA employees that are on the email,
16 but you only have to answer yes, no, or other. You
17 can save that section sub-A for your discussion
18 when you do fit your vote into the record. Thank
19 you.

20 DR. TRACY: Alright, perfect. Thank you.

21 DR. STOLLER: So the committee is asked yes
22 or no on the reply all.

1 Any other questions about the text of
2 question 4, the voting question?

3 (No response.)

4 DR. STOLLER: Seeing none, if there are no
5 questions or comments concerning the wording of the
6 question, we'll now begin the voting. Voting
7 committee members, please email your vote now to
8 the FDA advisory committee staff as instructed by
9 Dr. Bautista. We'll now take a 15-minute break to
10 compile the votes, and we'll resume after those
11 votes have been accumulated.

12 Again, everyone will be asked to comment on
13 their vote, and any comments made appropriate to
14 that. So, please vote now.

15 (Voting.)

16 DR. STOLLER: We'll resume at 3:37, four
17 minutes from now, please.

18 DR. BAUTISTA: Returning from break,
19 everyone is voted and the vote is now closed. I
20 will now read the vote results from the screen into
21 the record.

22 We have 1 yes; 14 no's; and zero

1 abstentions.

2 DR. STOLLER: This is Jamie Stoller. Now
3 that the vote is complete, we will go down the list
4 and have everyone who voted state their name, their
5 vote, and if you would like to, you can state the
6 reason why you voted as you did into the record,
7 and we'll start with Dr. Carvalho.

8 DR. CARVALHO: Thank you. I voted no
9 because of many of the factors that we discussed
10 today. I still have concerns about the makeup of
11 the patients that contributed to the data in the
12 first 90 days. I would like to have additional
13 information before I could make a conclusion as to
14 whether there are patient subsets that may have
15 responded versus those that didn't. Thank you.

16 DR. STOLLER: Thank you, Dr. Carvalho.

17 Ms. D'Agostino?

18 MS. D'AGOSTINO: Thank you. I voted no. I,
19 like I think most of us, was concerned about the
20 early separation in all-cause mortality and that it
21 seemed fee-driven, more of an ICS removal.

22 I was particularly really alarmed that it

1 seemed that the Data Monitoring Committee saw that
2 separation and didn't seem to investigate it
3 further. I just wanted to really note that for any
4 of us that serve on DMCs.

5 I also was concerned about the lack of
6 statistical control, given that the primary and
7 secondary endpoints, one of our statisticians noted
8 so well, had excellent control and the other
9 endpoints did not. Also, the demographics of the
10 trial, it's frustrating to see another trial that
11 is largely comprised of white males as the largest
12 demographic because it limits interpretations for
13 other groups.

14 It appears particularly that women and
15 people of color have a much smaller benefit or
16 perhaps no benefit at all, and while we think of
17 COPD as largely a disease in males, that's really
18 not true. The prevalence, especially in the United
19 States, for women is not less than in men. So I
20 would really encourage for future studies to think
21 about the demographics of the actual clinical
22 population.

1 As far as future studies that I would want
2 to see to answer this question better, as we
3 discussed, a step-up therapy rather than one that
4 involved removal therapy would really be ideal,
5 although we discussed that, of course, that would
6 be challenging in a severely exacerbating
7 population, to find people who were not already on
8 triple therapy. But I do think there also could be
9 benefit in a noninferiority trial, comparing
10 single-triple inhalers to the combined inhaler.
11 Thank you.

12 DR. STOLLER: Thank you, Dr. D'Agostino.

13 Dr. Dodd?

14 DR. DODD: Hello. This is Lori Dodd. I
15 voted no. The FDA label requires a high
16 evidentiary standard, and this was not met. I
17 think the FDA did a really nice job summarizing the
18 issues clearly, including the focus on multiplicity
19 and the issues related to whether the study design
20 addressed the question of removal of ICS or the
21 addition. So in terms of the study design, I think
22 that the step-up design would be appropriate,

1 however, I wonder if this is needed given the value
2 of exacerbations as a clinically meaningful
3 endpoint. Thank you.

4 DR. STOLLER: Thank you, Dr. Dodd.

5 Dr. Ellenberg?

6 DR. ELLENBERG: I voted no. I think the
7 reasons have been adequately conveyed in all of the
8 discussions. I did not think it met the
9 evidentiary standard. There were too many
10 questions. The data I thought were suggestive, but
11 far enough from definitive that I couldn't agree
12 that a survival benefit should be added to the
13 label.

14 DR. STOLLER: Thank you.

15 Dr. Evans?

16 DR. EVANS: Yes. This is Scott Evans. I
17 voted no. My reasoning in brief is that, in my
18 view, the presentation failed to adequately
19 demonstrate the difference in survival in the
20 triple therapy group versus the UMEC/VI group and
21 isn't largely or importantly caused by harm from
22 withdrawal of ICS to patients in the dual therapy

1 group. This concern is amplified by the
2 questionable statistical methods to determine
3 significance in the setting of multiple
4 comparisons. Thank you.

5 DR. STOLLER: Thank you, Dr. Evans.
6 Dr. Kelso?

7 DR. KELSO: I voted no for the reason having
8 to do with the apparent benefit likely being due to
9 a harm from removal of the ICS. I would note that
10 this drug is already on the market, and as I said
11 in my comments earlier, it is apparently being used
12 appropriately in terms of decisions about who needs
13 to be on triple therapy, and I'm afraid that this
14 label change, rather than leading to more
15 appropriate use might lead, in fact, to more
16 inappropriate use of the drug. Then just lastly,
17 I'd like to thank our chair for running a
18 thoughtful and well-organized meeting.

19 DR. STOLLER: Thank you.

20 Ms. Lupole?

21 MS. LUPOLE: Yes, sir. I voted yes. It was
22 a difficult decision, but what persuaded me were

1 the complications to establish a ICS-naive
2 mortality factor. I don't see how that can be done
3 given the data that was presented. Another factor
4 for me to consider this was the risk of compliance
5 within patients. I think this is much more benefit
6 to a patient. In most cases, patients proceed to a
7 steroid type therapy to start with. If I get the
8 gist of the meeting here, it's let's wait. Well,
9 you're only going to wait for so long, so that's
10 why I voted yes. Thank you, sir.

11 DR. STOLLER: Thank you, Ms. Lupole.

12 Dr. Marshall?

13 DR. MARSHALL: Yes, sir. This is Gailen
14 Marshall. I voted no, and I voted no primarily
15 because of two reasons. Number one, I felt like
16 they did not achieve the bar that was described by
17 the FDA for the appropriate labeling; and number
18 two, I agree with previous comments about the
19 concern about the relative indiscriminate use of
20 triple therapy in those COPD individuals who do not
21 need triple therapy.

22 What I would suggest is for the sponsor to

1 consider doing a registry type study, given the
2 fact that most clinicians who use this for their
3 COPD patients do it with the thought of affecting
4 exacerbation. The questions that have been raised
5 about whether severe exacerbations really do
6 translate to increased mortality risk, many of us
7 have grown up thinking that.

8 Perhaps the data could be stronger, and if
9 the sponsor felt that it was important to come back
10 to revisit this indication, more substantial data
11 and a large database, that over time could show
12 that these severe exacerbations and more severe
13 patients do translate to increased mortality, might
14 change at least my thinking in a future submission
15 opportunity.

16 DR. STOLLER: Thank you, Dr. Marshall.

17 Dr. May?

18 DR. MAY: Suzanne May. I voted no primarily
19 because the all-cause mortality outcome did not
20 meet the effectiveness standard, and even if it
21 did, it may be mostly due to worsening after ICS
22 removal. Thank you.

1 DR. STOLLER: Thank you, Dr. May.

2 Dr. McCormack?

3 DR. McCORMACK: I voted no, and the reason
4 was that this trial wasn't designed to answer the
5 question of whether additional Trelegy could
6 provide a mortality benefit, and no amount of
7 post hoc data analysis can overcome the fact that
8 even without the early mortality signal, this
9 design could not have definitively answered the
10 question of mortality benefit. I think a step-up
11 trial is the design that needs to be considered
12 despite the difficulties with designing such a
13 trial. Thank you.

14 DR. STOLLER: Thank you, Dr. McCormack.

15 Dr. Medoff?

16 DR. MEDOFF: Yes. This is a Ben Medoff. I
17 voted no. I didn't feel the data reached the
18 standard laid out by the FDA for mortality
19 advantage and echo many of the reasons expressed by
20 the others on this committee, namely the potential
21 effects of withdrawal of the steroids and the fact
22 that the trial was not designed to answer the

1 mortality questions directly also. Thank you.

2 DR. STOLLER: Thank you, Dr. Medoff.

3 Dr. Redlich?

4 (No response.)

5 DR. STOLLER: Dr. Redlich, perhaps you're
6 muted.

7 (No response.)

8 DR. STOLLER: We'll come back to
9 Dr. Redlich.

10 Dr. Shapiro?

11 DR. SHAPIRO: I voted no for the reasons
12 stated, although I would say that it is
13 encouraging. We know that steroids are a
14 double-edged sword. It could reduce the
15 inflammation leading to tissue destruction at the
16 expense potentially of fighting infections. The
17 fact that even if this was a withdrawal effect, the
18 inflammatory component and destruction seems to be
19 pretty important. So if we continue to focus on
20 this subgroup of exacerbators, we could design a
21 study to prove its mortality benefit.

22 DR. STOLLER: This is Dr. Stoller. I'm

1 remiss again in not reminding everyone to please
2 state your full name, so shame on me. This is
3 James Stoller. I voted no, and I have to say that
4 I struggled with this because this was a very
5 difficult decision. Again, like many of you, as a
6 practicing lung doc, we all hunger for a drug that
7 will favorably impact the mortality of our
8 patients.

9 I'm quite happy that a triple-drug single
10 inhaler is available for compliance reasons and
11 others that have been stated, however, I think the
12 bar was very high on this, both by guidance,
13 criteria, and the fact that the replicate studies,
14 at best, in some very small subset post hoc
15 analyses were perhaps consistent with but not
16 overall consistent with the mortality benefit in
17 studies that were designed to show a mortality
18 benefit, albeit in a different patient population.

19 So much has been said before. I think it
20 was very difficult to discount the possibility that
21 the 90-day separation was attributable to anything
22 other than the adverse impact of ICS withdrawal,

1 and I think we've amply discussed the daunting
2 challenges of a step-up trial that would show a
3 mortality benefit.

4 So the good news is that drugs like this are
5 available to our patients, and as others have said,
6 although a mortality label would be nice, perhaps
7 it's icing on the cake. Clinicians have access to
8 this drug and to the data in the public domain. So
9 I'll stop there.

10 Dr. Tracy, and then we'll come back to
11 Dr. Redlich. Please state your full name.

12 DR. TRACY: Dr. James Tracy. I voted no for
13 most of the reasons previously discussed. Overall,
14 I'm not sure that the overall survival benefit met
15 the necessary standards. I was also concerned
16 about the lack of type 1 control.

17 That being said, I really do think that
18 there is a benefit for this. I think, as has been
19 alluded to by a couple of the other commenters,
20 that most of the stuff seems to be appropriately
21 addressed and that there's definitely a benefit to
22 this therapy. Sometimes from a regulatory

1 standpoint, we look at this thing as a one-and-done
2 kind of thing, but in practical reality of clinical
3 practice, it's never a one-and-done thing. You see
4 where things work out, and who benefits and who
5 doesn't benefit. Then, obviously if there's a down
6 side in the case of pneumonia or such things, you
7 adjust therapy based on that.

8 The last thing I'll say is I found the
9 labeling issue to be very confusing, and I'm not
10 sure exactly what the end labeling would be, but I
11 thought that the labeling overstated what we were
12 trying to get across here. Thank you very much.

13 DR. STOLLER: Thank you, Dr. Tracy.

14 Dr. Redlich, are you available.

15 DR. REDLICH: This is Dr. Carrie Redlich.

16 Can you hear me?

17 DR. STOLLER: Yes.

18 DR. REDLICH: Sorry. I'm not sure what the
19 problem was. I also voted no for the many reasons
20 that have been stated. It did not meet the FDA
21 standard. I don't think I need to restate the
22 other reasons.

1 DR. STOLLER: Okay. Thank you, Dr. Redlich.

2 I think that completes our voting list.

3 Before we adjourn, let me turn again to the FDA and
4 ask whether there are any last comments from the
5 FDA, please?

6 DR. KARIMI-SHAH: Thanks, Dr. Stoller. This
7 is Banu Karimi-Shah. I have no other comment other
8 than to say, on behalf of the entire review team
9 here at FDA, I just wanted to extend my deepest
10 gratitude to the committee members for their
11 participation in this meeting today. We
12 acknowledge the preparation that was required for
13 this meeting, and not only reading the briefing
14 document as is sort of per usual, but watching the
15 prerecorded presentations. Your diligence and
16 preparation was apparent, and we are greatly
17 appreciative.

18 We understand that this allows for a focused
19 and streamlined discussion, which is really helpful
20 to us in our decision making of this really
21 important topic. We also understand that all of
22 you took time away from your very busy schedules in

1 this very challenging time. And again, a very
2 special thanks to you, our chair, Dr. Stoller, for
3 running a very organized meeting in this new
4 virtual format. I daresay we're going to finish a
5 few minutes ahead of schedule, so, thank you again
6 on behalf of all of us here at FDA.

7 **Adjournment**

8 DR. STOLLER: Thank you, Dr. Karimi-Shah.

9 I'll take the chairman's prerogative for
10 just a final comment, which is to thank everyone
11 and to thank my colleagues on the committee. I
12 thought the conversation was very robust and
13 thoughtful. I know many of you, and this was a
14 very informed, robust discussion.

15 I want to thank the sponsor. I think that
16 were it not for carefully designed studies, we
17 wouldn't have the answers and availability of
18 agents like this. Again, I stated before that I'm
19 appreciative of the fact that the drug is
20 available, although perhaps wasn't able to endorse
21 its mortality benefit for reasons stated.

22 I want to thank the FDA staff. I thought

1 that the analyses and the briefing documents and
2 the presentations, like those of the sponsor, were
3 very careful, very clear, and very informative.
4 Then I want to thank the public and our commenters
5 whose comments, as always, really inform the
6 discussion and try to provide a real context.

7 So with those concluding remarks and with
8 three minutes to spare before are appointed
9 adjournment, we will now adjourn the meeting with
10 thanks to everyone. Thank you very much.

11 (Whereupon, at 3:57 p.m., the meeting was
12 adjourned.)

13

14

15

16

17

18

19

20

21

22