

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH  
  
ONCOLOGIC DRUGS ADVISORY COMMITTEE (ODAC)

Tuesday, July 14, 2020  
9:02 a.m. to 4:12 p.m.

Virtual Meeting

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Meeting Roster**

**ACTING DESIGNATED FEDERAL OFFICER (Non-Voting)**

**Yvette Waples, PharmD**

Division of Advisory Committee and  
Consultant Management  
Office of Executive Programs, CDER, FDA

**ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)**

**Massimo Cristofanilli, MD, FACP**

Associate Director of Translational Research and  
Precision Medicine  
Robert H. Lurie Comprehensive Cancer Center  
Chicago, Illinois

1     **Jorge A. Garcia, MD, FACP**

2     Chair, Division of Solid Tumor Oncology

3     George and Edith Richman Distinguished Scientist

4     Chair

5     Director, GU Oncology Program

6     University Hospitals Seidman Cancer Center

7     Case Comprehensive Cancer Center

8     Case Western Reserve University

9     Cleveland, Ohio

10

11     **Susan Halabi, PhD**

12     Professor of Biostatistics and Bioinformatics

13     Duke University Medical Center

14     Durham, North Carolina

15

16     **Christian S. Hinrichs, MD**

17     Investigator & Lasker Clinical Research Scholar

18     Experimental Transplantation and

19     Immunology Branch

20     National Cancer Institute (NCI)

21     National Institutes of Health (NIH)

22     Bethesda, Maryland

1     **Philip Hoffman, MD**

2     *(Chairperson)*

3     Professor of Medicine

4     The University of Chicago

5     Section of Hematology/Oncology

6     Department of Medicine

7     Chicago, Illinois

8

9     **David Mitchell**

10    *(Consumer Representative)*

11    Founder, Patients for Affordable Drugs

12    Bethesda, Maryland

13

14    **Anthony D. Sung, MD**

15    Assistant Professor of Medicine

16    Duke University School of Medicine

17    Duke Adult Blood and Marrow Transplant Clinic

18    Durham, North Carolina

19

20

21

22

1       **ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBER**

2       **(Non-Voting)**

3       **Jonathan D. Cheng, MD**

4       *(Industry Representative)*

5       Vice President and Oncology Therapeutic Area

6       Head, Merck Research Laboratories, Oncology

7       Clinical Research

8       North Wales, Pennsylvania

9

10       **TEMPORARY MEMBERS (Voting)**

11       **James Chodosh, MD, MPH**

12       Edith Ives Cogan Professor of Ophthalmology

13       Massachusetts Eye and Ear

14       Harvard Medical School

15       Boston, Massachusetts

16

17       **John DeFlice, MD**

18       *(Patient Representative)*

19       Albuquerque, New Mexico

20

21

22

1     **Brad H. Feldman, MD**

2     Assistant Professor of Ophthalmology

3     Wills Eye Hospital and Thomas Jefferson University

4     Philadelphia, Pennsylvania

5

6     **Heidi D. Klepin, MD, MS**

7     Professor of Internal Medicine

8     Section of Hematology and Oncology

9     Wake Forest University Health Sciences

10    Winston Salem, North Carolina

11

12    **Grzegorz S. Nowakowski, MD**

13    Assistant Professor of Medicine

14    Mayo Clinic Rochester

15    Rochester, Minnesota

16

17    **Gita Thanarajasingam, MD**

18    Consultant, Division of Hematology

19    Assistant Professor of Medicine

20    Mayo Clinic

21    Rochester, Minnesota

22

1     **Thomas S. Uldrick, MD, MS**

2     Deputy Head, Global Oncology

3     Associate Member, Vaccine and Infectious

4     Disease and Clinical Research Divisions

5     Fred Hutchinson Cancer Research Center

6     Associate Professor of Medicine

7     University of Washington

8     Seattle, Washington

9

10    **FDA PARTICIPANTS (Non-Voting)**

11    **Richard Pazdur, MD**

12    Director, Oncology Center of Excellence (OCE)

13    Acting Director, Office of Oncologic Disease (OOD)

14    Office of New Drugs (OND), CDER, FDA

15

16    **Nicole Gormley, MD**

17    Director (Acting)

18    Division of Hematologic Malignancies 2 (DHM2)

19    OOD, OND, CDER, FDA

20

21

22

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Bindu Kanapuru, MD**

Multiple Myeloma Team Lead (Acting)

DHM2, OOD, OND, CDER, FDA

**Andrea Baines, MD, PhD**

Clinical Reviewer

DHM2, OOD, OND, CDER, FDA

**Wiley Chambers, MD**

Director (Acting)

Division of Ophthalmology

Office of Specialty Medicine

OND, CDER, FDA

|    |  |        |
|----|--|--------|
| 1  | C O N T E N T S                                  |        |
| 2  | Call to Order and Introduction of Committee      |        |
| 3  | Philip Hoffman, MD                               | 11     |
| 4  | Conflict of Interest Statement                   |        |
| 5  | Yvette Waples, PharmD                            | 16     |
| 6  | FDA Opening Remarks                              |        |
| 7  | Bindu Kanapuru, MD                               | 20, 36 |
| 8  | <b>Applicant Presentations - GlaxoSmithKline</b> |        |
| 9  | Belantamab Mafodotin Introduction                |        |
| 10 | Axel Hoos, MD, PhD                               | 44     |
| 11 | Unmet Need in Patients with                      |        |
| 12 | Relapsed/Refractory Multiple Myeloma             |        |
| 13 | Kenneth Anderson, MD                             | 48     |
| 14 | Belantamab Mafodotin: Clinical Efficacy          |        |
| 15 | Ira Gupta, MD                                    | 53     |
| 16 | Belantamab Mafodotin: Overall Clinical           |        |
| 17 | Safety   |        |
| 18 | Hesham Abdullah, MD, MSc, RAC                    | 57     |
| 19 | Belantamab Mafodotin: Characterization of        |        |
| 20 | Corneal Safety and Monitoring                    |        |
| 21 | Kathryn Colby, MD, PhD                           | 60     |
| 22 |  |        |

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

C O N T E N T S (continued)

Risk Evaluation and Mitigation  
Strategy (REMS)

Hesham Abdullah, MD, MSc, RAC 70

Belantamab Mafodotin: Clinical Perspective

Sagar Lonial, MD, FACP 72

**FDA Presentation**

BLA 761158: Belantamab Mafodotin

Andrea Baines, MD, PhD 80

Clarifying Questions to Presenters 108

Clarifying Questions to Presenters (con't) 155

Open Public Hearing 165

Questions to Committee and Discussion 204

Adjournment 237

P R O C E E D I N G S

(9:02 a.m.)

**Call to Order**

**Introduction of Committee**

DR. HOFFMAN: Good morning, and welcome.

Thank you for taking some time from your best field day activities. I would first like to remind everyone to please mute your line when you are not speaking. For media and press, the FDA press contact is Nathan Arnold. His email address is nathan.arnold@fda.hhs.gov, and his phone number is 301-796-6248. My name is Philip Hoffman, and I will be chairing today's meeting.

I will now call today's meeting of the Oncologic Drugs Advisory Committee to order. We'll start by going down the meeting roster. When I call your name, please introduce yourself.

Dr. Cristofanilli?

DR. CRISTOFANILLI: Good morning. This is Dr. Massimo Cristofanilli from Northwestern University Medical Oncology in Chicago.

DR. HOFFMAN: Dr. Garcia?

1 (No response.)

2 DR. HOFFMAN: Dr. Garcia, can you unmute?

3 DR. GARCIA: Good morning. Jorge Garcia,  
4 chief, medical oncology, University Hospitals,  
5 Seidman Cancer Center, Case Western Reserve  
6 University, Cleveland, Ohio.

7 DR. HOFFMAN: Dr. Halabi?

8 (No response.)

9 DR. HOFFMAN: Dr. Halabi, can you unmute?

10 (No response.)

11 DR. HOFFMAN: Let's go to Dr. Hinrichs.

12 DR. HALABI: Good morning. This is  
13 Dr. Susan Halabi, Duke University.

14 DR. HOFFMAN: Dr. Hinrichs?

15 DR. HINRICHS: Christian Hinrichs, senior  
16 investigator, NCI, Bethesda, Maryland.

17 DR. HOFFMAN: Mr. Mitchell?

18 MR. MITCHELL: Yes. I'm David Mitchell.  
19 I'm the consumer representative. I am more  
20 importantly for this meeting a multiple myeloma  
21 patient.

22 DR. HOFFMAN: Dr. Sung?

1 DR. SUNG: Anthony Sung, Division of  
2 Hematologic Malignancies and Cellular Therapy, Duke  
3 University.

4 DR. HOFFMAN: Dr. Cheng?

5 DR. CHENG: Good morning. Jonathan Cheng.  
6 I'm the industry rep, and I work for Merck  
7 Pharmaceuticals.

8 DR. HOFFMAN: Dr. Chodosh?

9 DR. CHODOSH: Morning. Jim Chodosh, Mass  
10 Eye and Ear in Boston. I'm a corneal specialist.

11 DR. HOFFMAN: Dr. DeFlice?

12 (No response.)

13 DR. HOFFMAN: I don't see him on the line.  
14 Dr. Feldman?

15 DR. FELDMAN: Good morning. I'm Brad  
16 Feldman. I'm an ophthalmologist and corneal  
17 specialist in Philadelphia at Wills Eye Hospital.

18 DR. HOFFMAN: Dr. Klepin?

19 (No response.)

20 DR. HOFFMAN: I will come back.

21 Dr. Nowakowski?

22 DR. KLEPIN: Sorry. I was muted. Good

1 morning. This is Heidi Klepin. I'm a geriatric  
2 oncologist at Wake Forest School of Medicine.

3 DR. HOFFMAN: Dr. Nowakowski?

4 DR. NOWAKOWSKI: Good morning. Greg  
5 Nowakowski. I'm a hematologist at Mayo Clinic,  
6 Rochester.

7 DR. HOFFMAN: Dr. Thanarajasingam?

8 DR. THANARAJASINGAM: Good morning. This is  
9 Gita Thanarajasingam, also a hematologist at Mayo  
10 Clinic, Rochester.

11 DR. HOFFMAN: Dr. Uldrick?

12 (No response.)

13 DR. HOFFMAN: Dr. Waples?

14 DR. WAPLES: Hi. Good morning. This is  
15 Yvette Waples as the DFO for the ODAC.

16 DR. HOFFMAN: Dr. Pazdur?

17 DR. PAZDUR: Hi. Rick Pazdur, director,  
18 Oncology Center of Excellence at the FDA.

19 DR. HOFFMAN: Dr. Gormley?

20 DR. GORMLEY: [Inaudible] -- division  
21 director for the Division of Hematologic  
22 Malignancies 2.

1 DR. HOFFMAN: Dr. Kanapuru?

2 DR. KANAPURU: Good morning. Bindu  
3 Kanapuru. I'm the multiple myeloma team lead,  
4 acting.

5 DR. HOFFMAN: Dr. Baines?

6 DR. BAINES: Good morning. This is Andrea  
7 Baines. I'm a hematologist with the Division of  
8 Hematologic Malignancies 2 at FDA.

9 DR. HOFFMAN: And Dr. Chambers?

10 DR. CHAMBERS: Good morning. This is Wiley  
11 Chambers. I'm an ophthalmologist. I'm the acting  
12 director of the Division of Ophthalmology in the  
13 Office of New Drugs, FDA.

14 DR. HOFFMAN: For topics such as those being  
15 discussed at today's meeting, there are often a  
16 variety of opinions, some of which are quite  
17 strongly held. Our goal is that today's meeting  
18 will be a fair and open forum for discussion of  
19 these issues and that individuals can express their  
20 views without interruption.

21 Thus, as a gentle reminder, individuals will  
22 be allowed to speak into the record only if

1 recognized by the chairperson. We look forward to  
2 a productive meeting.

3 In the spirit of the Federal Advisory  
4 Committee Act and the Government in the Sunshine  
5 Act, we ask that the advisory committee members  
6 take care that their conversations about the topic  
7 at hand take place in the open forum of the  
8 meeting.

9 We are aware that members of the media are  
10 anxious to speak with the FDA about these  
11 proceedings, however, FDA will refrain from  
12 discussing the details of this meeting with the  
13 media until its conclusion. Also, the committee is  
14 reminded to please refrain from discussing the  
15 meeting topic during breaks or lunch. Thank you.

16 Dr. Yvette Waples will read the Conflict of  
17 Interest Statement for the meeting.

18 **Conflict of Interest Statement**

19 DR. WAPLES: Good morning again.

20 The Food and Drug Administration, FDA, is  
21 convening today's meeting of the Oncologic Drugs  
22 Advisory Committee under the authority of the

1 Federal Advisory Committee Act, FACA, of 1972.

2 With the exception of the industry representative,  
3 all members and temporary voting members of the  
4 committee are special government employees, SGEs,  
5 or regular federal employees from other agencies  
6 and are subject to federal conflict of interest  
7 laws and regulations.

8 The following information on the status of  
9 this committee's compliance with federal ethics and  
10 conflict of interest laws, covered by but not  
11 limited to those done at 18 U.S.C. Section 208, is  
12 being provided to participants in today's meeting  
13 and to the public.

14 FDA has determined that members and  
15 temporary voting members of this committee are in  
16 compliance with federal ethics and conflict of  
17 interest laws. Under 18 U.S.C. Section 208,  
18 Congress has authorized FDA to grant waivers to  
19 special government employees and regular federal  
20 employees who have potential financial conflicts  
21 when it is determined that the agency's need for a  
22 special government employee's services outweighs

1 his or her potential financial conflict of interest  
2 or when the interest of a regular federal employee  
3 is not so substantial as to be deemed likely to  
4 affect the integrity of the services which the  
5 government may expect from the employee.

6 Related to discussions of today's meeting,  
7 members and temporary voting members of this  
8 committee have been screened for potential  
9 financial conflicts of interest of their own as  
10 well as those imputed to them, including those of  
11 their spouses or minor children and, for purposes  
12 of 18 U.S.C Section 208, their employers. These  
13 interests may include investments; consulting;  
14 expert witness testimony; contracts, grants,  
15 CRADAs; teaching, speaking, writing; patents and  
16 royalties; and primary employment.

17 Today's agenda involves the discussion of  
18 biologic license application, BLA, 761158 for  
19 belantamab mafodotin, submitted by GlaxoSmithKline  
20 Intellectual Property Development Limited, England.  
21 The proposed indication, use, for this product is  
22 for the treatment of adults with relapsed or

1 refractory multiple myeloma who have received at  
2 least 4 prior therapies, including an anti-CD38  
3 monoclonal antibody, a proteasome inhibitor, and an  
4 immunomodulatory agent.

5 This is a particular matters meeting during  
6 which specific matters related to BLA 761158 will  
7 be discussed. Based on the agenda for today's  
8 meeting and all financial interests reported by the  
9 committee members and temporary voting members, no  
10 conflict of interest waivers have been issued in  
11 connection with this meeting.

12 To ensure transparency, we encourage all  
13 standing committee members and temporary voting  
14 members to disclose any public statements that they  
15 have made concerning the product at issue.

16 With respect to FDA's invited industry  
17 representative, we would like to disclose that  
18 Dr. Jonathan Cheng is participating in this meeting  
19 as a nonvoting industry representative, acting on  
20 behalf of regulated industry. Dr. Cheng's role at  
21 this meeting is to represent industry in general  
22 and not any particular company. Dr. Cheng is

1 employed by Merck & Company.

2 We would like to remind members and  
3 temporary voting members that if the discussions  
4 involve any other product or firms not already on  
5 the agenda for which an FDA participant has a  
6 personal or imputed financial interest, the  
7 participants need to exclude themselves from such  
8 involvement and their exclusion will be noted for  
9 the record. FDA encourages all other participants  
10 to advise the committee of any financial  
11 relationships that they may have with the firm at  
12 issue. Thank you.

13 DR. HOFFMAN: Thank you.

14 We will now proceed with FDA's opening  
15 remarks from Dr. Bindu Kanapuru.

16 **FDA Opening Remarks - Bindu Kanapuru**

17 DR. KANAPURU: Good morning. I am Bindu  
18 Kanapuru, a hematologist/oncologist with the FDA's  
19 Division of Hematologic Malignancies 2. I'm the  
20 cross-discipline team leader for the belantamab  
21 mafodotin application. I will present a brief  
22 introduction to this application and the key issues

1 this application presents.

2 Significant advances have been made in the  
3 treatment of multiple myeloma in recent decades.  
4 There are 12 drugs currently approved for the  
5 treatment of relapsed or refractory multiple  
6 myeloma and 7 new drugs or biologics have been  
7 approved since 2015, including a histone  
8 deacetylase inhibitor, an oral proteasome  
9 inhibitor, monoclonal antibodies, and a nuclear  
10 export inhibitor.

11 Despite the availability of multiple  
12 therapies, treatment of relapsed or refractory  
13 multiple myeloma remains challenging and the  
14 disease remains incurable. In general, the  
15 duration of remission shortens with each subsequent  
16 line of therapy, and patients who have received  
17 multiple lines of therapy and who become refractory  
18 to the major classes of available anti-myeloma  
19 therapies have poor outcomes.

20 Belantamab mafodotin is a first-in-class  
21 B-cell maturation antigen directed antibody  
22 conjugated to a microtubule disrupting agent

1 monomethyl auristatin or MMAF. The proposed  
2 indication for belantamab mafodotin is for the  
3 treatment of adult patients with relapsed or  
4 refractory multiple myeloma who have received at  
5 least 4 prior therapies, including an anti-CD38  
6 monoclonal antibody, a proteasome inhibitor, and an  
7 immunomodulatory agent.

8 Our review and presentation is primarily  
9 focused on the pivotal phase 2 study 205678, which  
10 I will subsequently refer to as the DREAMM-2 study.  
11 DREAMM-2 is an open-label, multicenter study  
12 evaluating belantamab mafodotin in patients with  
13 relapsed or refractory multiple myeloma. The trial  
14 did not include a comparator arm but evaluated two  
15 dose levels of belantamab mafodotin with overall  
16 response rate as a primary endpoint.

17 Eligible patients were those with multiple  
18 myeloma who had received at least 3 prior lines of  
19 therapies that included a proteasome inhibitor, an  
20 immunomodulatory agent, and an anti-CD38 monoclonal  
21 antibody. The study also included a cohort to  
22 evaluate a lyophilized formulation at 3.4 milligram

1 per kg dose.

2 Based on an identified risk for ocular  
3 toxicity with belantamab mafodotin, from  
4 preclinical data and safety experience from the  
5 phase 1 dose escalation and expansion trial,  
6 DREAMM-1, patients on DREAMM-2 were monitored with  
7 ophthalmic exams at baseline and before each dose  
8 of belantamab mafodotin. The DREAMM-2 study also  
9 included a small ocular substance to assess whether  
10 topical corticosteroids may mitigate some of the  
11 observed ocular toxicity. Treatment with  
12 belantamab mafodotin continued until disease  
13 progression or unacceptable toxicity.

14 I will now discuss the top-level efficacy  
15 and safety results observed in the DREAMM-2 trial.  
16 2.5 milligram per kg is the proposed dose for the  
17 marketing application. Efficacy data for the  
18 3.4 milligram per kg dose will be presented later  
19 on in the presentation. The patient population  
20 with multiple myeloma, enrolled at the  
21 2.5 milligram per kg dose, received a median of  
22 7 lines of prior therapy.

1           The overall response rate in this heavily  
2 pretreated population was 31 percent at the  
3 2.5 milligram per kg dose with a median duration of  
4 response that was not reached with 6.3 months of  
5 follow-up. This efficacy represents a treatment  
6 benefit in this heavily pretreated patient  
7 population.

8           With regards to safety, the most common  
9 treatment-emergent adverse reactions are listed  
10 here. Keratopathy or damage to corneal epithelium  
11 was the most frequent adverse event in the pivotal  
12 DREAMM-2 trial and was noted in greater than  
13 70 patients on the trial in both dose cohorts.  
14 Other common ocular toxicities included symptoms of  
15 blurred vision and dry eyes.

16           Although the rates of cytopenias and other  
17 toxicities shown above were less frequent with a  
18 lower dose of 2.5 milligram per kg as compared to  
19 the 3.4 milligram per kg dose, there was not a  
20 substantial difference in the rates of keratopathy  
21 between the two dose levels.

22           This slide highlights the issue for

1 discussion today, which is ocular toxicity, a major  
2 safety concern with belantamab mafodotin. The  
3 incidence and severity of keratopathy observed in  
4 the DREAMM-2 trial was high. Only a quarter of the  
5 patients treated did not have a keratopathy event,  
6 and more than 40 percent of the patients had severe  
7 keratopathy based on ocular exam findings.

8 Keratopathy was associated with a decrease  
9 in visual acuity, including severe vision loss in  
10 some patients with an impact on patients'  
11 activities of daily living and on the ability to  
12 drive and read. Only 43 percent of patients had  
13 keratopathy findings by exam-reported ocular  
14 symptoms.

15 Because many patients had significant  
16 keratopathy on ophthalmic exam, in the absence of  
17 other ocular symptoms, there is also a concern that  
18 keratopathy could go undetected in the absence of  
19 close monitoring, potentially leading to serious  
20 sequelae, including development of corneal ulcers.

21 It is also important to note that this is a  
22 novel toxicity that is not typically seen with

1 other kinds [inaudible - unmuted voice]. An  
2 adequate characterization of ocular toxicity and  
3 appropriate mitigation strategies are important to  
4 ensure safe and effective use of belantamab  
5 mafodotin.

6 There remains several uncertainties  
7 regarding the ocular toxicity with belantamab  
8 mafodotin. While ocular toxicities, including  
9 keratopathy, have been reported with other antibody  
10 drug conjugates, especially MMAF-containing  
11 antibody drug conjugates, they were noted in the  
12 preclinical and phase 1 studies with belantamab  
13 mafodotin.

14 The mechanism of ocular toxicity with  
15 belantamab mafodotin is not completely understood.  
16 As such, to date no therapeutic strategies have  
17 been identified to mitigate ocular toxicity with  
18 belantamab mafodotin.

19 The limited ocular substudy evaluating the  
20 use of prophylactic steroid eye drops failed to  
21 show any mitigating effects on the incidence of  
22 severity of keratopathy. Dose modifications,

1 including dose interruptions, reductions, and  
2 discontinuation are the primary mitigation  
3 strategies for ocular toxicity at this point.

4 Despite close monitoring and implementation  
5 of dose modifications, many patients on the DREAMM-  
6 2 trial had severe and recurrent events of  
7 keratopathy. Recurrent events of keratopathy were  
8 reported in nearly 40 percent of the patients on  
9 the DREAMM-2 trial --

10 DR. PAZDUR: Hi. This is Dr. Pazdur. I am  
11 stuck on slide 2 and haven't heard anything.

12 DR. KANAPURU: A high proportion of patients  
13 with keratopathy did not have resolution as of the  
14 last follow-up, and in some patients, complete  
15 information on severity or reversibility may never  
16 be available, resulting in an incomplete  
17 characterization of reversibility and severity.  
18 Thus, there is an uncertainty if the dose  
19 modification strategy is sufficient to mitigate the  
20 toxicity.

21 Other strategies, including evaluation of  
22 alternate dosing regimens, or a lower dose at

1 2.5 milligram per kg, have not been adequately  
2 explored. The concerns and uncertainties regarding  
3 the characterization of ocular toxicities and  
4 mitigation strategies raises questions about the  
5 overall benefit-risk profile of belantamab  
6 mafodotin with the proposed patient population.  
7 The characterization of the ocular toxicity and  
8 appropriation mitigation strategies are important  
9 to allow for an assessment of the impact of ocular  
10 toxicity on the benefit-risk profile of belantamab  
11 mafodotin.

12 Today, we would like for the committee to  
13 discuss whether the risk of ocular toxicity has  
14 been adequately characterized in study 205678,  
15 DREAMM-2, to allow for an assessment of the  
16 benefit-risk profile, and --

17 (Crosstalk.)

18 DR. HOFFMAN: I think we need to take a  
19 brief break.

20 DR. KANAPURU: -- of ocular toxicity on the  
21 benefit-risk profile for belantamab mafodotin.

22 DR. HOFFMAN: I'm sorry to interrupt.

1 DR. KANAPURU: We know that belantamab  
2 mafodotin has a treatment [inaudible - distortion.]

3 DR. PAZDUR: I agree. This is Rick Pazdur.  
4 I haven't heard a thing of this presentation. I  
5 got stuck on slide 2.

6 Can you hear me?

7 DR. KANAPURU: However --

8 DR. HOFFMAN: I can hear you. I've been  
9 hearing the full presentation, but the speaking is  
10 not fluent, along with it's very choppy. And I'm  
11 also hearing a lot of noise on the phone separate  
12 from what's in the recorded presentation.

13 This is Philip Hoffman.

14 DR. GARCIA: Dr. Hoffman, this is Jorge. I  
15 can see the presentation and I can hear the  
16 presentation. I did have issues before, but I  
17 think I corrected the audio in the computer, so  
18 that was probably the key.

19 DR. HOFFMAN: Okay.

20 DR. PAZDUR: This is Dr. Pazdur. My  
21 computer's stuck on slide 2. It hasn't moved.

22 DR. HOFFMAN: I can't seem to stop the

1 presentation from moving to let us fix this.

2 This is Philip Hoffman. We're trying --

3 DR. KANAPURU: An adequate

4 characterization --

5 DR. HOFFMAN: -- to resolve some technical

6 issues here. I'm the chair of the meeting.

7 DR. WAPLES: Good morning. This is the

8 acting DFO of the ODAC. We will take a 10-minute

9 break. Thank you for your patience.

10 MALE VOICE: Guys, I can hear the

11 presentation. I wonder if maybe, Dr. Hoffman, you

12 could become the presenter of the presentation

13 forward.

14 FEMALE VOICE: But I'm unable to enter the

15 meeting.

16 DR. HOFFMAN: You're giving me credit for

17 technical savvy that I don't have.

18 (Laughter.)

19 MALE VOICE: Fair enough. That's how highly

20 I think of you. How about that?

21 DR. HOFFMAN: I'm up to slide 8 on the

22 presentation, but the voice is not fluid --

1 DR. KANAPURU: -- belantamab mafodotin in  
2 the proposed patient population.

3 DR. HOFFMAN: -- with the slide  
4 presentation, so it's not easy to pay attention.

5 DR. KANAPURU: Characterization of the  
6 ocular toxicity and appropriate mitigation  
7 strategies are important to allow for an assessment  
8 of the impact of ocular toxicity on the  
9 benefit-risk profile of belantamab mafodotin.

10 CAPT VO: Hello? This is Thiep. Can we  
11 have a 5-minute break?

12 DR. HOFFMAN: Yes. We've already announced  
13 a 10-minute break. We've announced a 10-minute  
14 break.

15 CAPT VO: Okay.

16 DR. KANAPURU: -- study 205678, DREAMM-2, to  
17 allow for an assessment of the benefit-risk  
18 profile, and to discuss the impact of ocular  
19 toxicity on the benefit-risk profile for belantamab  
20 mafodotin.

21 We note that belantamab mafodotin has a  
22 treatment benefit in the proposed patient

1 population [inaudible - unmuted voice], however  
2 given the available data, regarding the risk of  
3 ocular toxicities and the incomplete  
4 characterization of this toxicity, with several  
5 residual uncertainties at this time, our question  
6 to the committee is, does the demonstrated benefit  
7 of belantamab mafodotin outweigh the risk in the  
8 proposed patient population with multiple myeloma?  
9 Thank you.

10 MALE VOICE: Could it be possible that some  
11 of us are having difficulties because we are not  
12 using Chrome as a browser? I don't know if that's  
13 the case.

14 DR. HOFFMAN: Got me. I'm using --

15 MALE VOICE: The application is Adobe  
16 Connect, so we have downloaded Adobe Connect.

17 (Whereupon, at 9:44 a.m., a recess was  
18 taken.)

19 DR. HOFFMAN: My name is Philip Hoffman, and  
20 I will be chairing today's meeting. Thank you for  
21 your patience with our delay. We're going to  
22 introduce ourselves once again. The members of the

1 committee who are present, please unmute yourself  
2 as you introduce yourself.

3 Dr. Cristofanilli?

4 DR. CRISTOFANILLI: Massimo Cristofanilli  
5 from Northwestern University in Chicago, medical  
6 oncologist.

7 DR. HOFFMAN: Dr. Garcia?

8 DR. GARCIA: I'm here, Dr. Hoffman. This is  
9 Jorge Garcia, chief medical oncology, University  
10 Hospitals, Seidman Cancer Center, Case Western  
11 Reserve University in Cleveland, Ohio.

12 DR. HOFFMAN: Dr. Halabi?

13 DR. HALABI: Hi. Good morning, everyone.  
14 This is Susan Halabi, Duke University.

15 DR. HOFFMAN: Dr. Hinrichs?

16 DR. HINRICHS: Christian Hinrichs, senior  
17 investigator, NCI, Bethesda, Maryland.

18 DR. HOFFMAN: Mr. Mitchell?

19 MR. MITCHELL: Yes. I am David Mitchell. I  
20 am a consumer representative, and I am also a  
21 multiple myeloma patient.

22 DR. HOFFMAN: Dr. Sung?

1 DR. SUNG: Anthony Sung, Hematologic  
2 Malignancies and Cellular Therapy, Duke University.  
3 I will be leaving at 1:30 p.m. [inaudible -  
4 distortion].

5 DR. HOFFMAN: Dr. Cheng?

6 DR. CHENG: Jonathan Cheng, oncologist,  
7 industry rep and with Merck Pharmaceuticals.

8 DR. HOFFMAN: Dr. Chodosh?

9 DR. CHODOSH: Jim Chodosh. I'm in Boston,  
10 Massachusetts. I'm a corneal specialist.

11 DR. HOFFMAN: Dr. DeFlice?

12 DR. DeFLICE: I'm John DeFlice. Thank you,  
13 Dr. Hoffman. I'm a gastroenterologist, and I'm a  
14 10-year multiple myeloma survivor, and I'm a  
15 patient advisor.

16 DR. FELDMAN: Thank you, Dr. Hoffman. This  
17 is Brad Feldman. I'm a corneal specialist in  
18 Philadelphia at the Wills Eye Hospital.

19 DR. HOFFMAN: Dr. Klepin?

20 DR. KLEPIN: Hi. This is Heidi Klepin. I'm  
21 a geriatric oncologist at Wake Forest School of  
22 Medicine.

1 DR. HOFFMAN: Dr. Nowakowski?

2 DR. NOWAKOWSKI? Hi. Greg Nowakowski. I'm  
3 a hematologist at Mayo Clinic. Rochester.

4 DR. HOFFMAN: Dr. Thanarajasingam?

5 DR. THANARAJASINGAM: Hi. This is  
6 Dr. Thanarajasingam. I'm also a hematologist at  
7 Mayo Clinic in Rochester, Minnesota.

8 DR. HOFFMAN: Dr. Uldrick?

9 DR. ULDRICK: Hi. Thomas Uldrick, medical  
10 oncologist, Fred Hutchinson Cancer Research Center.

11 DR. HOFFMAN: Dr. Waples?

12 DR. WAPLES: Good morning. This is  
13 Dr. Waples. I'm the acting DFO for the ODAC.

14 DR. HOFFMAN: Dr. Pazdur?

15 DR. PAZDUR: Hi. Richard Pazdur, FDA,  
16 director of the Oncology Center of Excellence.

17 DR. HOFFMAN: Dr. Gormley?

18 DR. GORMLEY: Hi. I'm Dr. Nicole Gormley.  
19 I'm the acting division director in the Division of  
20 Hematologic Malignancies 2 at the FDA.

21 DR. HOFFMAN: Dr. Kanapuru?

22 DR. KANAPURU: Hi. Good morning. I'm Bindu

1 Kanapuru. I'm the acting team lead of multiple  
2 myeloma in the Division of Hema Malignancies 2.

3 DR. HOFFMAN: Dr. Baines?

4 DR. BAINES: Hi. This is Dr. Andrea Baines.  
5 I'm a hematologist at FDA with the Division of  
6 Hematologic Malignancies 2.

7 DR. HOFFMAN: Dr. Chambers?

8 DR. CHAMBERS: Hi. This is Wiley Chambers.  
9 I'm an ophthalmologist. I'm the acting director  
10 for the Division of Ophthalmology at FDA.

11 DR. HOFFMAN: Okay. Thank you.

12 Let's now move to the FDA's opening remarks  
13 by Dr. Kanapuru.

14 **FDA Opening Remarks - Bindu Kanapuru**

15 DR. KANAPURU: Good morning. I'm Bindu  
16 Kanapuru, a hematologist/oncologist with the FDA's  
17 Division of Hematologic Malignancies 2. I'm the  
18 cross-discipline team leader for the belantamab  
19 mafodotin application and will present a brief  
20 introduction to this application and the key issues  
21 this application presents.

22 Significant advances have been made in the

1 treatment of multiple myeloma in the recent  
2 decades. There are 12 drugs currently approved for  
3 the treatment of relapsed or refractory multiple  
4 myeloma, and 7 new drugs or biologics have been  
5 approved since 2015, including a histone  
6 deacetylase inhibitor, an oral proteasome  
7 inhibitor, monoclonal antibodies, and a nuclear  
8 export inhibitor.

9 Despite the availability of multiple  
10 therapies, treatment of relapsed or refractory  
11 multiple myeloma remains challenging and the  
12 disease remains incurable. In general, the  
13 duration of the remission shortens with each  
14 subsequent line of therapy, and patients who have  
15 received multiple lines of therapy and become  
16 refractory to the major classes of available  
17 anti-myeloma therapy have poor outcomes.

18 Belantamab mafodotin is a first-in-class  
19 B-cell maturation antigen directed antibody  
20 conjugated to a microtubule disrupting agent,  
21 monomethyl auristatin F or MMAF. The proposed  
22 indication for belantamab mafodotin is for the

1 treatment of adult patients with relapsed or  
2 refractory multiple myeloma who have received at  
3 least 4 prior therapies, including an anti-CD38  
4 monoclonal antibody, a proteasome inhibitor, and an  
5 immunomodulatory agent.

6 Our review and presentation is primarily  
7 focused on the pivotal phase 2 study 205678, which  
8 I will subsequently refer to as the DREAMM-2 study.  
9 DREAMM-2 is an open-label, multicenter study  
10 evaluating belantamab mafodotin in patients with  
11 relapsed or refractory multiple myeloma. The trial  
12 did not include a comparator arm but evaluated two  
13 dose levels of belantamab mafodotin with overall  
14 response rate as a primary endpoint.

15 Eligible patients were those with multiple  
16 myeloma who had received at least 3 prior lines of  
17 therapies that included a proteasome inhibitor, an  
18 immunomodulatory agent, and an anti-CD38 monoclonal  
19 antibody. The study also included a cohort to  
20 evaluate a lyophilized formulation at 3.4 milligram  
21 per kg dose.

22 Based on an identified risk for ocular

1 toxicity with belantamab mafodotin, from  
2 preclinical data and safety experience from the  
3 phase 1 dose escalation and expansion trial,  
4 DREAMM-1, patients on DREAMM-2 were monitored with  
5 ophthalmic exams at baseline and before each dose  
6 of belantamab mafodotin.

7 The DREAMM-2 study also included a small  
8 ocular substudy to assess whether topical  
9 corticosteroids may mitigate some of the observed  
10 ocular toxicity. Treatment with belantamab  
11 mafodotin continued until disease progression or  
12 unacceptable toxicity.

13 I will now discuss the top-level efficacy  
14 and safety results observed in the DREAMM-2 trial.  
15 2.5 milligram per kg is the proposed dose for the  
16 marketing application. Efficacy data for the  
17 3.4 milligram per kg dose will be presented later  
18 on in the presentation. The patient population  
19 with multiple myeloma, enrolled at the  
20 2.5 milligram per kg dose, received a median of  
21 7 lines of prior therapy.

22 The overall response rate in this heavily

1 pretreated population was 31 percent at the  
2 2.5 milligram per kg dose with a median duration of  
3 response that was not reached with 6.3 months of  
4 follow-up. This efficacy represents a treatment  
5 benefit in this heavily pretreated patient  
6 population.

7 With regards to safety, the most common  
8 treatment-emergent adverse reactions are listed  
9 here. Keratopathy or damage to corneal epithelium  
10 was the most frequent adverse event in the pivotal  
11 DREAMM-2 trial and was noted in greater than  
12 70 patients on the trial in both dose cohorts.  
13 [Inaudible - distortion].

14 Although the rates of cytopenias and other  
15 toxicities shown above were less frequent with a  
16 lower dose of 2.5 milligram per kg as compared to  
17 the 3.4 milligram per kg dose, there was not a  
18 substantial difference in the rates of keratopathy  
19 between the two dose levels.

20 This slide highlights the issue for  
21 discussion today, which is ocular toxicity, a major  
22 safety concern with belantamab mafodotin. The

1 incidence and severity of keratopathy observed in  
2 the DREAMM-2 trial was high. Only a quarter of the  
3 patients treated did not have a keratopathy event,  
4 and more than 40 percent of the patients had severe  
5 keratopathy based on ocular exam findings.

6 Keratopathy was associated with a decrease  
7 in visual acuity, including severe vision loss in  
8 some patients with an -- [inaudible - distortion]  
9 -- raised questions about the overall benefit-risk  
10 profile of belantamab mafodotin in the proposed  
11 patient population - regarding the characterization  
12 of ocular toxicity and mitigation strategy raises  
13 questions about the overall benefit-risk profile of  
14 belantamab mafodotin in the proposed patient  
15 population.

16 [Inaudible.]

17 Today, we would like for the committee to  
18 discuss whether the risk of ocular toxicity has  
19 been adequately characterized in study 205678, or  
20 DREAMM-2, to allow for an assessment of the  
21 benefit-risk profile and to discuss the impact of  
22 ocular toxicity on the benefit-risk profile for

1 belantamab mafodotin.

2 We note that belantamab mafodotin has a  
3 treatment benefit in the proposed patient  
4 population with multiple myeloma. However, given  
5 the available data regarding the risk of ocular  
6 toxicity and the incomplete characterization of  
7 this toxicity, and the several residual  
8 uncertainties at this time, our question to the  
9 committee is, does the demonstrated benefit of  
10 belantamab mafodotin outweigh the risks in the  
11 proposed patient population with multiple myeloma?

12 Thank you.

13 DR. HOFFMAN: Thank you very much.

14 Before we move to the sponsor's  
15 presentation, I just want to remind everyone please  
16 to have your phones on mute if you're not actually  
17 speaking to avoid any extraneous noises.

18 Thank you, Dr. Kanapuru.

19 Both the Food and Drug Administration and  
20 the public believe in a transparent process for  
21 information gathering and decision making. To  
22 ensure such transparency at the advisory committee

1 meeting, FDA believes that it is important to  
2 understand the context of an individual's  
3 presentation.

4 For this reason, FDA encourages all  
5 participants, including the applicant's non-  
6 employee presenters, to advise the committee of any  
7 financial relationships that they may have with the  
8 applicant such as consulting fees, travel expenses,  
9 honoraria, and interests in the applicant,  
10 including equity interests and those based upon the  
11 outcome of the meeting.

12 Likewise, FDA encourages you at the  
13 beginning of your presentation to advise the  
14 committee if you do not have any such financial  
15 relationships. If you choose not to address this  
16 issue of financial relationships at the beginning  
17 of your presentation, it will not preclude you from  
18 speaking.

19 We will now proceed with presentations from  
20 GlaxoSmithKline immediately followed by the FDA  
21 presentation.

22 DR. HOOS: GSK presenters are on the call.

1 We're waiting for the slides to come up.

2 **Applicant Presentation - Axel Hoos**

3 DR. HOOS: Good morning, Mr. Chair, members  
4 of ODAC, and members of the FDA. I'm Axel Hoos,  
5 senior vice president of oncology at  
6 GlaxoSmithKline. Thank you for the opportunity  
7 today to present the data underlying our  
8 application for accelerated approval of belantamab  
9 mafodotin, referred to hereinafter as Belamaf, for  
10 the treatment of patients with relapsed/refractory  
11 multiple myeloma.

12 Belamaf offers a novel and specific  
13 mechanism of action that targets B-cell maturation  
14 antigen, or BCMA, a cell surface receptor required  
15 for cell survival. This receptor is widely  
16 expressed in multiple myeloma cells. Belamaf is an  
17 antibody drug conjugate comprised of an  
18 afucosylated humanized IgG1 BCMA-targeting antibody  
19 linked to the microtubule and disrupting agent  
20 MMAF. Belamaf has a multimodal mechanism of action  
21 that contributes to the clinically meaningful  
22 activity we have observed. Our presentation will

1 demonstrate that Belamaf provides a positive  
2 benefit to risk profile.

3           There's a clear unmet medical need in  
4 advanced myeloma. Patients with myeloma who have  
5 become refractory to the most effective therapies  
6 have poor outcomes. If patients have only one  
7 remaining approved treatment option, namely  
8 selinexor plus dexamethasone, at this stage,  
9 response duration with selinexor and dexamethasone  
10 is 4.4 months, and patients have a short median  
11 survival of 6 to 9 months and need effective  
12 therapies with novel mechanisms of action.

13           We agree with the FDA that the Belamaf  
14 results may be beneficial in this heavily  
15 pretreated population. The responses were deep and  
16 durable with medium duration of response of at  
17 least 9 months and estimated overall survival of  
18 11.9 months. This would improve response and  
19 survival expectation by twofold.

20           The overall safety profile of Belamaf is  
21 manageable and predominantly characterized by  
22 ocular events. This represents a novel adverse

1 event for myeloma physicians and requires training.  
2 We understand this risk, and our label will include  
3 a box warning to communicate the risk to physicians  
4 and patients. We also are proposing a risk  
5 evaluation and mitigation strategy, or REMS,  
6 including elements to assure safe use or ETASU.  
7 Despite corneal events, patients' disease-related  
8 symptoms were controlled and quality of life was  
9 stable without accelerated decline for myeloma  
10 progression.

11 Throughout our clinical program, we  
12 collected various types of data that provide a  
13 comprehensive assessment and characterization of  
14 corneal adverse events, which is a known class  
15 effect associated with MMAF antibody drug  
16 conjugates. These include patients' symptoms,  
17 adverse events based on objective eye examination,  
18 and quality-of-life measures to assess the function  
19 and impact of the event on patients.

20 Furthermore, we continue to follow patients  
21 to evaluate resolution of events once off  
22 treatment. To fully characterize actual events,

1 GSK used the protocol specified, Keratopathy and  
2 Visual Acuity, or KVA, scale, which focuses on  
3 corneal exam findings. This scale accompanied the  
4 use of standard CTCIA [ph] criteria.

5 While ocular events are unique in multiple  
6 myeloma, they're well understood by  
7 ophthalmologists. Should they appear,  
8 interventions can be considered. Such  
9 interventions include dose modifications and eye  
10 drops. Ocular events are often asymptomatic  
11 without meaningful change in visual acuity. No  
12 patient experienced complete vision loss and only  
13 3 patients discontinued Belamaf due to an ocular  
14 adverse event.

15 We have designed a REMS with ETASU to ensure  
16 education, ocular monitoring, and timely management  
17 of events. In this context, Belamaf will only be  
18 administered in a certified healthcare setting  
19 after confirmation of an eye exam.

20 We're here today seeking accelerated  
21 approval for the treatment of adults with relapsed  
22 or refractory multiple myeloma who have received at

1 least 4 prior therapies, which include an anti-CD38  
2 monoclonal antibody, a proteasome inhibitor, and an  
3 immunomodulatory agent. The recommended dose of  
4 Belamaf is 2.5 milligrams per kilogram administered  
5 once every 3 weeks.

6 Belamaf received breakthrough therapy  
7 designation for patients with multiple myeloma who  
8 failed at least 3 prior lines of therapy. The  
9 designation was granted based on the promising  
10 clinical results observed in our phase 1 study,  
11 DREAMM-1. The pivotal study, DREAMM-2, enrolled  
12 patients consistent with this designation, and  
13 comparable results were observed. As part of our  
14 accelerated approval commitment, we have initiated  
15 DREAMM-3, a randomized phase 3 study which has  
16 begun and is ongoing.

17 Here's the agenda for the remainder of our  
18 presentation. We have also invited additional  
19 experts with us today as shown here. Thank you.  
20 I'll now turn the presentation to Dr. Anderson.

21 **Applicant Presentation - Kenneth Anderson**

22 DR. ANDERSON: Thank you. I'm Ken Anderson,

1 professor of medicine at Harvard Medical School and  
2 director of the Myeloma Center at Dana-Farber  
3 Cancer Institute, and I've been treating my  
4 patients with multiple myeloma for more than 40  
5 years.

6 While we've seen great progress in multiple  
7 myeloma, relapse of disease will occur in most  
8 patients. Those patients whose myeloma has  
9 progressed on current therapies or is refractory  
10 urgently need novel effective options that will  
11 decrease disease burden and improve longer term  
12 outcomes while affording them an acceptable quality  
13 of life.

14 Multiple myeloma is the second most common  
15 hematologic malignancy. In 2020, an estimated  
16 32,000 patients will be newly diagnosed with  
17 myeloma and more than 12,800 myeloma-related deaths  
18 will occur in the United States. The median  
19 overall survival for newly diagnosed patients with  
20 myeloma is approximately 5 to 10 years.

21 Nearly a dozen agents have been translated  
22 from the bench to the bedside resulting in FDA

1 approval of the agents shown here. Once patients'  
2 myeloma relapses from initial therapy, we commonly  
3 treat them with a combination of regimens that  
4 contain either a proteasome inhibitor,  
5 immunomodulatory agent, or an anti-CD38 antibody as  
6 the backbone. Unfortunately, their disease will  
7 relapse or become refractory to the three most  
8 effective classes, and we are essentially left with  
9 one option, the recently approved nuclear transport  
10 inhibitor, selinexor.

11           Once myeloma becomes triple-class  
12 refractory, patient outcomes are poor, as shown in  
13 the MAMMOTH study. Here, Gandhi and colleagues  
14 investigated patient outcomes in advanced myeloma.  
15 This paper divided patients by refractoriness of  
16 their myeloma and found that survival diminishes as  
17 myeloma becomes more refractory, with median  
18 survivals between 5.6 and 9.2 months. The triple  
19 and quad refractory red line is most comparable to  
20 the population in today's discussion.

21           As noted, the only currently approved option  
22 for these patients is the selinexor combination.

1       These results further reinforced how difficult  
2       treatment can be at this late stage with an overall  
3       response rate of 26.2 percent, a relatively short  
4       duration of response of 4.4 months, and median  
5       survival of 8.6 months.

6               There are also tolerability issues observed  
7       with selinexor. Sixty percent of patients  
8       experienced a serious adverse event and high rates  
9       of dose modifications and discontinuations were  
10       observed. As the only remaining option for  
11       patients we are discussing today, this highlights  
12       the urgent unmet medical need.

13               In addition to the poor outcomes in  
14       relapsed/refractory myeloma, the quality of life  
15       for our patients also decreases with each relapse  
16       and each subsequent line of therapy. Physical  
17       functioning even in patients with stable  
18       relapsed/refractory multiple myeloma may be  
19       significantly compromised, and it can be associated  
20       with reduced ability to work, carry out domestic  
21       chores, and leisure activities.

22               The quality of life is impacted by the

1 disease burden such as fatigue and pain, as well as  
2 by the treatment-related adverse events.

3 Discontinuation from treatment is a measure of  
4 tolerability. Some current treatments have  
5 significant side effects and high discontinuation  
6 rates. Thus, stability of the quality of life is  
7 an important consideration when we discuss  
8 therapeutic options with our patients.

9 In summary, patients with triple-class  
10 refractory myeloma have one available option once  
11 their disease becomes refractory to effective  
12 treatments, and this option has its own safety  
13 limitations. Patients with relapsed/refractory  
14 multiple myeloma have short survival and their  
15 disease greatly impacts their overall quality of  
16 life.

17 These patients are therefore in urgent need  
18 of new therapies with alternative mechanisms of  
19 action to overcome drug resistance that inevitably  
20 develops. Most importantly, patients need  
21 effective and tolerable therapies that achieve  
22 clinical responses, which are both durable and

1 associated with clinical benefit.

2 Thank you. Dr. Gupta will now discuss the  
3 efficacy data.

4 **Applicant Presentation - Ira Gupta**

5 DR. GUPTA: Thank you, Dr. Anderson.

6 Good morning. I'm Ira Gupta, vice president  
7 and the development lead for belantamab mafodotin  
8 at GlaxoSmithKline. I'm pleased to be here today  
9 to present the results from our clinical  
10 development program demonstrating that Belamaf  
11 provides clinically meaningful overall response  
12 rate with a durable duration of response in  
13 patients otherwise expected to relapse quickly from  
14 their disease.

15 The data supporting our accelerated approval  
16 application comes from our dose-finding study,  
17 DREAMM-1, which explored doses from 0.03 to 4.6  
18 milligrams per kilogram and our pivotal DREAMM-2  
19 study. These studies provide consistent evidence  
20 of clinically meaningful efficacy in heavily  
21 pretreated patients with multiple myeloma who have  
22 failed at least 4 prior lines of therapy, which is

1 our indicated population.

2 DREAMM-2 is an ongoing phase 2, open-label,  
3 randomized, multicenter trial. Enrolled patients  
4 had a confirmed diagnosis of relapsed or refractory  
5 multiple myeloma with disease progression after 3  
6 or more prior lines of therapy. All patients had  
7 an ECOG performance status of 0 to 2. The patients  
8 were stratified by previous line of therapy and  
9 cytogenetic features to receive 2.5 or 3.4  
10 milligrams per kilogram Belamaf via intravenous  
11 infusion every 3 weeks until disease progression or  
12 unacceptable toxicity.

13 As corneal events were an expected adverse  
14 event, an ocular substudy was included to evaluate  
15 the effect of corticosteroids on corneal findings.  
16 Both doses demonstrated comparable efficacy,  
17 however, the 2.5 milligrams per kilogram dose had a  
18 more favorable safety profile, therefore we will  
19 focus our presentation on 2.5 milligrams dose.

20 The primary endpoint was overall response  
21 rate as assessed by an independent review  
22 committee. Secondary endpoints as shown on the

1 slide were also assessed. 196 patients were  
2 enrolled. The majority of patients remained in the  
3 study with 23 percent on treatment and 39 percent  
4 of the patients in follow-up. The primary reason  
5 for discontinuation was disease progression. Based  
6 on demographics, they are reflective of patients  
7 with relapsed/refractory multiple myeloma.

8 DREAMM-2 enrolled heavily pretreated  
9 patients with a median of 7 prior lines of therapy.  
10 84 percent of the patients had received more than  
11 4 prior lines. All were refractory to an anti-CD38  
12 antibody or proteasome inhibitor and  
13 immunomodulator.

14 Moving to the primary endpoint results,  
15 31 percent of the patients achieved a partial  
16 response or better, demonstrating a clinically  
17 meaningful overall response rate in this refractory  
18 patient population. The achieved responses were  
19 deep with more than half of the responders  
20 achieving a VGPR or better.

21 At the time of the primary data cutoff, the  
22 median duration of response was not reached.

1 Furthermore, overall survival data was not mature  
2 at the time of the primary analysis. The estimated  
3 median overall survival was 9.9 months with a  
4 6-month survival rate of 72 percent.

5 Additional follow-up data continues to show  
6 the benefit of Belamaf. As of the 9-month data  
7 cut, median duration of response was still not  
8 reached, however, based on a worst-case sensitivity  
9 analysis, it is estimated to be at least 9 months.  
10 In addition, overall survival is estimated to be at  
11 least 11.9 months.

12 In conclusion, the results from our clinical  
13 development program demonstrated clinically  
14 meaningful treatment responses in patients with  
15 heavily pretreated relapsed/refractory multiple  
16 myeloma. The responses were deep and durable.  
17 Based on the 9 months follow-up, median duration  
18 was still not reached and median overall survival  
19 is estimated to be 11.9 months. In addition,  
20 DREAMM-1 supports the findings from the pivotal  
21 DREAMM-2 study.

22 Thank you. Dr. Abdullah will now present

1 the safety data.

2 **Applicant Presentation - Hesham Abdullah**

3 DR. ABDULLAH: Thank you.

4 I'm Hesham Abdullah, senior vice president,  
5 head of clinical development oncology at GSK. I  
6 will now review the safety data from the DREAMM-2  
7 study. The safety profile of Belamaf has been  
8 systematically evaluated and it's considered to be  
9 manageable in the overall context of the  
10 benefit-risk.

11 Most patients reported an adverse event.  
12 While the AE rates were similar across doses, the  
13 2.5 milligram dose demonstrated a more favorable  
14 safety profile with less need for dose reductions  
15 or interruptions and fewer SAEs. Three percent of  
16 patients experienced an AE leading to death in the  
17 2.5-milligram group and 7 percent in the  
18 3.4-milligram group.

19 Given the more favorable safety profile with  
20 comparable efficacy, we are recommending the  
21 2.5-milligram dose in our indication. This will be  
22 our focus for the remainder of the safety

1 discussion.

2 In the DREAMM-2 study, the median number of  
3 the cycles administered was three and most patients  
4 receive their assigned dose intensity. The median  
5 time on treatment was about 9 weeks. Here I  
6 present the adverse events reported using standard  
7 CTCAE grading. In alignment with FDA, we also  
8 present adverse events grouped by related preferred  
9 terms.

10 It's important to note that whether we look  
11 at these AE data through the lens of the individual  
12 preferred terms or grouped preferred terms, similar  
13 conclusions are reached. Keratopathy was the most  
14 commonly reported adverse event occurring in 71  
15 percent of patients. Dr. Colby will later present  
16 the corneal data as reported based on exam  
17 findings.

18 You'll note the rate of keratopathy remains  
19 the same between the two assessment methods. When  
20 looking more closely by grade, keratopathy was also  
21 the most commonly reported grade 3-4 AE.

22 Dose modifications were effective in

1 managing AEs and allowed patients to remain on  
2 treatment. Almost all dose delays were due to  
3 corneal events. Keratopathy accounted for 47  
4 percent and the next most frequently reported was  
5 blurred vision at 5 percent. The median duration  
6 of dose delays was 42 days and 69 percent of  
7 patients restarted treatment.

8 Likewise, corneal adverse events led to  
9 almost all dose reductions. Twenty-nine percent of  
10 patients required these reductions overall;  
11 keratopathy accounted for 20 percent,  
12 thrombocytopenia for 5 percent, and an additional  
13 2 percent for blurred vision. Dose modifications  
14 allowed patients to remain on treatment with few  
15 discontinuations.

16 Let me now share the discontinuation data.  
17 Eight percent of patients discontinued, 2 percent  
18 due to keratopathy. The remaining discontinuations  
19 occurred due to a single adverse event in each  
20 patient.

21 In conclusion, Belamaf has a manageable  
22 safety profile with low frequency of adverse events

1 other than corneal events. Few patients  
2 discontinued, attesting to the tolerability of  
3 treatment and utility of dose modifications. Based  
4 on the 9-month update, no new safety signals have  
5 been identified. Finally, corneal events will be  
6 monitored and managed through the proposed label  
7 and REMS with ETASU, which I will discuss after  
8 Dr. Colby's presentation on the corneal events.  
9 Thank you.

10 (No response.)

11 DR. GUPTA: Dr. Colby, we aren't able to  
12 hear you.

13 DR. COLBY: I'm sorry. Can you hear me now?

14 DR. GUPTA: Yes, we can. Thank you.

15 **Applicant Presentation - Kathryn Colby**

16 DR. COLBY: Sorry about the technology  
17 challenges.

18 Thank you. I'm Kathryn Colby, the Louis  
19 Block professor and chair of the Department of  
20 Ophthalmology and Visual Science at the University  
21 of Chicago. I'm the president of the Cornea  
22 Society and a practicing ophthalmologist,

1 specializing in diseases of the cornea and ocular  
2 surface.

3 As you heard, ocular events are common with  
4 Belamaf. These findings may be unfamiliar to  
5 oncologists or new in the myeloma space, but these  
6 are bread-and-butter findings for ophthalmologists  
7 that we manage on a daily basis. Such events from  
8 medications are not uncommon and occur with  
9 medications such as amiodarone and Ara-C.

10 The collaboration between the  
11 ophthalmologist and the oncologist, reflected in  
12 the sponsors clinical program and proposed REMS,  
13 allows the ophthalmologist to identify these  
14 findings in a timely fashion, while the oncologist  
15 will treat the myeloma with appropriate Belamaf  
16 dosing. First, let me briefly describe the anatomy  
17 of the eye so you can understand what is actually  
18 happening with these events.

19 Here is a schematic of the eye. The cornea,  
20 shown in yellow, is the clear front window to the  
21 eye. In this cross-section, you can see that the  
22 cornea is made up of multiple layers, each of which

1 has a different function. The events in this  
2 clinical program occurred in the most superficial  
3 layer of the cornea, the epithelium. The  
4 epithelium, like the skin, is a naturally  
5 regenerating tissue, and it's completely replaced  
6 every 7 to 10 days. Therefore, typically events in  
7 the epithelium are self-limiting. The literature  
8 is highly supportive of the conclusion that such  
9 events in the epithelium are reversible.

10 The characteristic corneal finding with  
11 Belamaf was a microcyst-like change in the  
12 epithelium. This is a subtle exam finding shown in  
13 the slit lamp image on the left. We can get a  
14 detailed view of the various parts of the cornea  
15 using an imaging technique called confocal  
16 microscopy. Shown in the top-right panel is a  
17 normal epithelium. In contrast, hyper-reflective  
18 deposits, likely apoptotic cells, are seen after  
19 Belamaf administration in the lower-right image.

20 These exam findings are a type of  
21 keratopathy, which is a nonspecific term for  
22 various disorders of the cornea. These findings

1 may appear only on exam and may not result in  
2 changes in vision, depending upon their severity  
3 and location.

4 Let me provide a schematic explanation that  
5 shows the microcyst-like findings greatly enlarged  
6 so you can see them. We've observed that the  
7 keratopathy with Belamaf originates in the  
8 peripheral corneal epithelium. Deposits in the  
9 center cornea can appear over time in some  
10 patients. Central deposits can affect visual  
11 acuity while peripheral deposits generally do not.  
12 The keratopathy recovers in reverse fashion, first  
13 resolving in the center and then outward. This  
14 explains why many patients have keratopathy but  
15 fewer patients have a change in visual acuity. It  
16 also explains why the visual acuity changes resolve  
17 prior to complete resolution of the keratopathy.

18 To ensure a comprehensive understanding of  
19 ocular events, various types of data were collected  
20 in DREAMM-2. Adverse events were collected based  
21 on subjective symptoms reported by patients and  
22 graded by investigators. In addition, ophthalmic

1 exams prior to every dose, with particular  
2 attention to visual acuity and changes in the  
3 cornea, were conducted..

4 Exam findings were graded by investigators  
5 based on protocol-defined criteria. The severity  
6 of these findings were used to determine dose  
7 modifications. The severity of the objective exam  
8 findings were graded in two ways, first using a  
9 protocol-specified keratopathy and visual acuity,  
10 or KVA scale, which graded events based on  
11 objective findings in the cornea and changes in  
12 visual acuity.

13 This is a rigorous method for identifying  
14 and grading events, and in agreement with the FDA,  
15 the KVA scale was used to determine dose  
16 modifications. Second, the changes seen in eye  
17 exams were created using standard CTCAE criteria.  
18 This took subjective patient experience into  
19 consideration.

20 The overall incidence of keratopathy is the  
21 same regardless of methodology used, but there were  
22 differences in the grades of events. Seventy-two

1 percent of patients experienced a keratopathy.  
2 Evaluation of the keratopathy was done by the  
3 ophthalmologist and takes into consideration the  
4 distribution of microcyst-like changes in the  
5 cornea. The more diffuse the microcysts, the  
6 higher the severity grade. A grade 3 event here is  
7 based on an exam finding, which may or may not have  
8 resulted in reduced vision or patients' symptoms.

9 Keratopathy takes time to resolve  
10 completely, at least 80 days in most cases. This  
11 is not surprising based on the timing of the  
12 natural regeneration of the corneal epithelium and  
13 Belamaf's long half-life. Seventy-five percent of  
14 patients recovered from their very first occurrence  
15 of keratopathy. Just under half of the patients  
16 had more than one occurrence of keratopathy.

17 Based on the last follow-up, 48 percent have  
18 documented recovery to grade 1 or better and  
19 77 percent of patients with grade 3 or 4 events  
20 either recovered or were recovering. Of the  
21 patients with unresolved keratopathy, 27 percent  
22 are still on treatment or in follow-up. Those

1 still on treatment and those without sufficient  
2 follow-up time would not be expected to have  
3 complete recovery of the corneal changes.

4 Additionally, 25 percent of the patients  
5 were reported as not recovered when follow-up  
6 ended. This percentage includes patients who died,  
7 withdrew early, or who did not return for eye  
8 examinations. For those that were not recovered  
9 when follow-up ended, the median follow-up time was  
10 only 23 days, which is too short for complete  
11 recovery. Again, objective findings of keratopathy  
12 were reported in 72 percent of patients. Only 3  
13 patients discontinued due to corneal events.

14 Keratopathy does not always lead to patient  
15 symptoms or meaningful changes in vision. In fact,  
16 83 percent of patients in the study were without  
17 meaningful visual acuity changes. Fifty-two  
18 percent of patients were symptomatic and 16 out of  
19 95 patients had a meaningful but temporary change  
20 to vision, which makes sense given how keratopathy  
21 recovers. If there is an event, it will be quickly  
22 identified with monitoring, and if that event is

1       severe, it will be managed.

2               Let me explain how visual changes are  
3       observed from the patient perspective. 20/20  
4       vision using the Snellen scale is considered to be  
5       normal vision. As vision is reduced to 20/50, you  
6       can see here how an image would appear slightly  
7       blurry, however, this is still good enough vision  
8       to allow people to do most activities. When vision  
9       is reduced to 20/200, which is considered legal  
10       blindness in the United States, although the image  
11       is blurrier, people can still find their way around  
12       their homes, perform self-care, and read large  
13       print.

14               Sixteen patients experienced a decline in  
15       visual acuity to 20/50 or worse at least once  
16       during or after the treatment period. The median  
17       duration of this decline was 22 days and 94 percent  
18       of patients recovered by the last assessment. One  
19       patient experienced a worsening to 20/200, however,  
20       this was temporary, and vision recovered to  
21       baseline at the next assessment. No patient had  
22       complete vision loss.

1           Functional impact of reduced vision varies  
2           from patient to patient, however, overall, the  
3           negative effects on important activities of daily  
4           life like driving and reading were temporary.  
5           Functional impact of reduced vision was assessed  
6           using the standardized NEI-VFQ. This overall  
7           assessment is patient-reported outcomes as they  
8           relate to visual function.

9           Here are the worst post-baseline changes in  
10          driving and reading as assessed by the NEI-VFQ 25  
11          for patients who reported little or no difficulty  
12          at baseline. Among the patients with little to no  
13          difficulty driving, 53 percent of patients  
14          experienced no change throughout the study.

15          Of the 23 percent who gave up driving due to  
16          eyesight, about half regained this function prior  
17          to the end of study. Among the patients with  
18          little to no difficulty reading, 42 percent  
19          experienced no change and 7 of 8 who stopped  
20          reading returned to reading by the end of the  
21          study.

22          It's also helpful to review the

1 visual-related, patient-reported outcomes in the  
2 context of overall quality of life. Here is the  
3 mean change in global health status based on  
4 patient-reported outcomes as measured by the EORTC.  
5 We observed that patients' quality of life remained  
6 stable that we acknowledge this sample size as  
7 limited. Thus, corneal adverse events and visual  
8 acuity changes don't always translate into changes  
9 in overall quality of life.

10 As an ophthalmologist, I am comfortable with  
11 these events. It's the myeloma that worries me. I  
12 can assure you that when keratopathy occurs, it  
13 will be identified and effectively managed with  
14 dose modifications. Keratopathy exam findings  
15 improve with time. Visual acuity changes can  
16 result from keratopathy, but these changes were  
17 temporary.

18 The ophthalmologist and the oncologist must  
19 work together to ensure patients receive the  
20 optimal dosing of Belamaf to control their  
21 life-threatening myeloma. Thank you. I'll return  
22 the presentation to Dr. Abdullah.

1                   **Applicant Presentation - Hesham Abdullah**

2                   DR. ABDULLAH: Thank you, Dr. Colby.

3                   Belamaf associated corneal events are unique  
4                   in the myeloma population. GSK acknowledges the  
5                   importance of these events, and as such, we've  
6                   addressed them in the proposed label and developed  
7                   a formalized plan, including a REMS to manage these  
8                   events and continue to closely monitor patients.

9                   The proposed label will include a box  
10                  warning to inform patients and physicians of  
11                  corneal toxicity and potential changes in vision.  
12                  The warning will highlight the need to conduct eye  
13                  exams prior to each dose and to use dose  
14                  modifications to manage events.

15                  The goal of the REMS with ETASU is to ensure  
16                  appropriate monitoring and management of corneal  
17                  events. The central component of the REMS program  
18                  is the requirement for an exam to be conducted by  
19                  an eye care professional before a patient receives  
20                  each dose of Belamaf. Next, the results of the  
21                  exam will be shared to support timely management if  
22                  needed. The prescriber uses these results to guide

1 dosing decisions, including dose modifications.  
2 Finally, automated systems would restrict access to  
3 Belamaf.

4 Now let me show you how the multiple  
5 controlled and recurring activities will maximize  
6 the early identification of corneal changes in  
7 order to enable timely management through dose  
8 modifications. Importantly, these activities help  
9 ensure that changes in the corneal epithelium or  
10 visual acuity are not missed.

11 Awareness in monitoring begins with  
12 education and training on the risk of corneal  
13 events and their management, along with guidance on  
14 implementation of and adherence to the ETASU  
15 components. This will include confirmation of  
16 prescriber knowledge and training on how to educate  
17 and enroll patients.

18 GSK will further support the patient with  
19 eye care resources and help them find and schedule  
20 appointments with an eye care professional. The  
21 completed ocular exam, including slit-lamp and  
22 visual acuity assessments, then help guide patient

1 treatment. Prescribers will document that they  
2 have reviewed the eye exam results and implement  
3 dose modifications as needed. These actions are  
4 part of a feedback loop between the patient,  
5 physician, and eye care professional.

6 The documented activities are used by the  
7 infusion center to verify patient eligibility prior  
8 to the administration of each dose. Upon  
9 confirmation, the center will issue an automated  
10 authorization that is required for Belamaf to be  
11 administered.

12 Importantly, GSK will receive automated  
13 notifications of all corneal events that result in  
14 a dose delay or reduction. As you can see, these  
15 multiple control points and systematic feedback  
16 loops help further optimize patient safety and  
17 Belamaf benefit-risk.

18 Thank you. Dr. Lonial will now conclude  
19 with his clinical perspective.

20 **Applicant Presentation - Sagar Lonial**

21 DR. LONIAL: Thank you. I'm Sagar Lonial,  
22 professor and chair in the Department of hematology

1 and Medical Oncology and the chief medical officer  
2 for The Winship Cancer Institute of Emory  
3 University. I also served as the global lead PI  
4 for the DREAMM-2 clinical study.

5 As you heard from Dr. Anderson, for patients  
6 with relapsed and refractory myeloma, there's no  
7 clear treatment consensus and really only one  
8 approved agent for these patients. Beyond that, we  
9 recycle agents that the patient has already used or  
10 combinations of cytotoxic chemotherapy. These lack  
11 durable benefit and are associated with significant  
12 toxicity. We need new drugs with new mechanisms of  
13 action.

14 As oncologists, we often ask if the efficacy  
15 observed is meaningful. Can safety events be dealt  
16 with by physicians and patients, and in this case  
17 what are the impacts of corneal events on the  
18 patient? We also consider how the benefit-risk  
19 profile compares with other potential options, and  
20 in the case of refractory myeloma, they are often  
21 heavily weighted towards toxicity. Addressing  
22 these questions allow for a clear assessment of

1 risk and benefit and allows the physician the  
2 opportunity to have an open dialogue with patients  
3 regarding the risk and benefit as they decide to  
4 move forward.

5 Belamaf demonstrated deep and durable  
6 responses in a highly refractory patient  
7 population. This continued through the 9-month  
8 follow-up, and this is evidenced by the achievement  
9 of complete remissions despite the fact that these  
10 are heavily pretreated patients.

11 The median duration of response in my view  
12 is an amalgamation endpoint of both efficacy and  
13 safety. Achieving depth of response and having a  
14 durable duration of response in a refractory  
15 myeloma patient population speaks to the unique  
16 target and efficacy of Belamaf.

17 Additionally, we see that patients who  
18 respond experience a longer duration of overall  
19 survival. We also see that patients who don't  
20 respond have the expected overall survival for this  
21 patient population in general as described in the  
22 MAMMOTH study.

1           To further contextualize the Belamaf data,  
2 here is data Dr. Anderson showed earlier, but this  
3 time I add Belamaf next to selinexor. And while  
4 this is an indirect comparison, you can see that  
5 Belamaf overall response is at least in line with  
6 this agent that was granted accelerated approval.  
7 Most noticeably, however, is the durability of  
8 response, greater than 9 months for Belamaf  
9 compared to 4.4 months observed with the  
10 selinexor-dexamethasone combination.

11           Additionally, the safety profile for Belamaf  
12 compares favorably as well. Across all categories,  
13 in fact, Belamaf has fewer events reported in  
14 aggregate. I especially want to highlight the  
15 relatively low rate of adverse events resulting in  
16 treatment discontinuation for Belamaf.

17           The next question, then, is can the corneal  
18 events be managed because the benefit I've already  
19 demonstrated is really only meaningful if patients  
20 can remain on treatment and have a stable quality  
21 of life. As you heard from Dr. Colby, the corneal  
22 events are manageable, and from the treating

1 oncologist perspective, that is my view as well.

2 I acknowledge that these are unique  
3 findings. Initially, I didn't understand them  
4 myself, but as the trial progressed and in  
5 discussions with my patients I gained greater  
6 comfort with these symptoms and working closely  
7 with ophthalmology was able to give patients a  
8 chance to respond to therapy while minimizing the  
9 risk of significant keratopathy.

10 Keratopathy was frequent but did not result  
11 in significant changes in visual acuity in around  
12 80 percent of patients, and only 3 patients  
13 discontinued due to these events. Those holds in  
14 modifications clearly allowed for continued therapy  
15 with close monitoring, and the clinical data  
16 demonstrate that patients still achieved meaningful  
17 response regardless of modifications.

18 Additionally, vision returned to baseline or  
19 near baseline in 94 percent of these cases, and  
20 this occurred because of a very strong partnership  
21 between ophthalmologists and the clinical  
22 investigators that allowed us together to determine

1 the optimal time to reinitiate dosing; and given  
2 the sponsor's REMS plan, I expect this same level  
3 of close partnership to continue post-approval.  
4 This will allow us to safely treat these patients  
5 who have few, if any, real treatment options.

6 The data presented today demonstrate that  
7 there's a positive benefit-risk profile for  
8 Belamaf. When patients are on therapy, they will  
9 likely experience some form of a corneal event,  
10 which can be managed in partnership with our  
11 ophthalmology colleagues. It is important to  
12 remember that keratopathy identified during an eye  
13 exam is not the same as a finding of vision loss.  
14 In fact, keratopathy does not often correlate with  
15 changes in vision, and in many patients doesn't  
16 result in symptoms.

17 Per the sponsor's REMS, patients will be  
18 routinely monitored regardless of symptoms as they  
19 were in the clinical program. Patients are also  
20 likely to experience a meaningful response,  
21 something they cannot get with most available  
22 therapies since their myeloma has already become

1 triple-class refractory.

2 The overall response rate demonstrates  
3 Belamaf's unique activity and the median duration  
4 of response exceeds background estimates of overall  
5 survival for this population, speaking both to  
6 safety and to efficacy as I described earlier.  
7 Perhaps the most impressive to me is that the  
8 efficacy continues to gain as we get longer  
9 follow-up on this trial.

10 I also add Belamaf's tolerable safety  
11 profile to its list of benefits. Eight percent of  
12 patients discontinued, and in the context of  
13 advanced disease with comorbidities, that is fairly  
14 low. Despite all the advances we've been able to  
15 enjoy in myeloma, the triple-class refractory  
16 patient does exist and is unfortunately growing in  
17 number, and options like Belamaf offer significant  
18 advance for our patients.

19 I want to leave you with two patient  
20 examples that I have personally cared for. Both  
21 patients are in their mid to upper 70s. Both have  
22 received 6 to 7 prior lines of therapy similar to

1 the DREAMM population. Both have basically  
2 exhausted available treatment options, and when we  
3 read about Belamaf compared to other potential  
4 options, decided to move forward with Belamaf.

5 Both achieved meaningful clinical responses,  
6 one without the development of visual changes or  
7 keratopathy and one with intermittent changes  
8 keratopathy requiring dose holds and dose  
9 modifications. Both patients have been on therapy  
10 for over 4 months and neither patients have  
11 regretted the decision to go on therapy, and in  
12 fact, given the risks we discussed prior to  
13 therapy, are gaining the benefit from receiving  
14 Belamaf as a treatment in this setting.

15 From my view, BCMA represents an important  
16 treatment target, and it doesn't make sense to wait  
17 an additional 2 to 3 years to have an off-the-shelf  
18 therapy for patients with triple-class refractory  
19 myeloma. The benefit far exceeds the potential  
20 risks of using Belamaf in the context of the  
21 currently described REMS program. Our patients  
22 should be afforded this treatment option to

1 determine an informed decision making with their  
2 oncologist if it is right for them.

3 Thank you again, and Dr. Gupta will return  
4 to take your questions.

5 **FDA Presentation - Andrea Baines**

6 DR. BAINES: Good afternoon. My name is  
7 Andrea Baines, and I'm a hematologist with the  
8 Division of Hematologic Malignancies 2 at the FDA.  
9 I am the clinical reviewer for the belantamab  
10 mafodotin application. Today I'll provide an  
11 overview of FDA's review of the major safety  
12 concern of ocular toxicity with belantamab  
13 mafodotin.

14 We would like the committee to discuss  
15 whether the risk of ocular toxicity has been  
16 adequately characterized in study 205678, or  
17 DREAMM-2, to allow for an assessment of the  
18 benefit-risk profile and to discuss the impact of  
19 ocular toxicity on the benefit-risk profile for  
20 belantamab mafodotin.

21 Considering the available information  
22 regarding the efficacy and safety of belantamab

1 mafodotin, which I will discuss further in the  
2 upcoming slides, our question to the committee will  
3 be, does the demonstrated benefit of belantamab  
4 mafodotin outweigh the risks in the proposed  
5 patient population with multiple myeloma?

6 Our review and presentation is primarily  
7 based on the phase 2 trial, DREAMM-2. Additional  
8 supportive data comes from the phase 1  
9 first-in-human trial, DREAMM-1. DREAMM-2 is an  
10 open-label, multicenter study evaluating 2 doses of  
11 belantamab mafodotin in patients with  
12 relapsed/refractory multiple myeloma. Eligible  
13 patients had failed at least 3 prior lines of  
14 therapy, including an anti-CD38 monoclonal antibody  
15 and were refractory to a proteasome inhibitor and  
16 immunomodulatory agent.

17 Patients were randomized 1 to 1 to receive  
18 belantamab mafodotin 2.5 milligram per kilogram or  
19 3.4 milligram per kilogram. However, the trial was  
20 not designed for formal comparison of the 2 doses  
21 from a statistical perspective. The trial  
22 initially only included evaluation of the 3.4

1 milligram per kilogram dose. However, following  
2 discussions with FDA regarding the instance of  
3 ocular toxicity and the frequency of dose  
4 modifications with the 3.4 milligram per kilogram  
5 dose in DREAMM-1, the DREAMM-2 trial was amended to  
6 include evaluation of the lower 2.5 milligram per  
7 kilogram dose.

8 Based on the occurrence of ocular toxicity  
9 in DREAMM-1 and with MMAF containing antibody drug  
10 conjugate in general, the trial also included an  
11 ocular substudy to address whether topical  
12 corticosteroids can mitigate the risk of ocular  
13 toxicity.

14 In addition, while most patients in DREAMM-2  
15 received a frozen liquid solution of belantamab  
16 mafodotin, the trial was amended to also evaluate a  
17 presentation of belantamab mafodotin that is  
18 proposed for marketing that is lyophilized.  
19 However, the lyophilized presentation was only  
20 evaluated at the 3.4 milligrams per kilogram dose,  
21 whereas the 2.5 milligram per kilogram dose is  
22 proposed for marketing.

1 Treatment was continued every 3 weeks until  
2 disease progression or unacceptable toxicity. The  
3 primary endpoint was the overall response rate as  
4 assessed by an independent review committee and the  
5 secondary endpoints are shown here.

6 The trial demonstrated an overall response  
7 rate of 31 percent in the 2.5 milligram per  
8 kilogram cohort and 34 percent in the 3.4 milligram  
9 per kilogram cohort. With a median follow-up of  
10 6.3 months and 6.9 months, respectively, the median  
11 duration of response was not reached for either  
12 cohort. However, among the patients who achieved a  
13 response, 70 percent had a duration of response of  
14 at least 6 months.

15 The data for overall survival was not mature  
16 at the time of data analysis, and although the data  
17 for median progression-free survival is shown, in  
18 general, the FDA does not rely on time-to-event  
19 analyses in single-arm trials because of the  
20 potential for lead-time bias and challenges  
21 associated with the use of historical controls.

22 Overall, the efficacy was similar between

1 the two dose levels and the response rate of  
2 31 percent for the proposed 2.5 milligram per  
3 kilogram dose represents a treatment benefit in  
4 this heavily pretreated population of patients with  
5 relapsed/refractory multiple myeloma, especially  
6 considering that these patients had received a  
7 median of 7 prior lines of therapy.

8 The key safety issue with belantamab  
9 mafodotin is ocular toxicity, which is a unique  
10 toxicity that is not observed with the currently  
11 approved therapies for multiple myeloma. In the  
12 slides that follow, I will highlight the major  
13 issues regarding the ocular toxicity of belantamab  
14 mafodotin, which include the high incidence of  
15 ocular toxicity, including severe events; decreased  
16 visual acuity, including severe vision loss in some  
17 patients; and the absence of ocular symptoms in  
18 many patients despite findings on ophthalmic exam,  
19 which raises the concern that this could go  
20 undetected in the absence of close monitoring.

21 The frequent need for dose modification  
22 despite evaluation of the lower 2.5 milligram per

1 kilogram dose, and the fact that despite close  
2 monitoring and dose modifications, there were  
3 patients who had recurrent and unresolved events of  
4 ocular toxicity.

5 This table shows the most common adverse  
6 events in the DREAMM-2 trial. I apologize. My  
7 slides just disappeared from the screen.

8 Could one of our hosts let me know when I  
9 can proceed?

10 (Pause.)

11 DR. BAINES: I apologize for the technical  
12 difficulties. We're just waiting for the slides to  
13 get back up on the screen. I've got notice that  
14 our team is working on it. I was on slide  
15 number 7.

16 (Pause.)

17 DR. BAINES: Okay. I'm going to go ahead  
18 and advance the slides back to slide 7 where I was.  
19 I apologize for the delay. Hopefully everybody can  
20 see the slides again.

21 This table shows the most common adverse  
22 events in the DREAMM-2 trial. The ocular

1 toxicities observed included keratopathy and  
2 changes in visual acuity identified on exam, as  
3 well as symptoms of blurred vision and dry eye.  
4 Keratopathy was the most frequent ocular adverse  
5 event and also the most frequent adverse event  
6 overall, occurring in 71 percent of patients in the  
7 2.5 milligram per kilogram cohort.

8 Unlike some of the other frequent adverse  
9 events such as thrombocytopenia and anemia, which  
10 had a lower incidence in the 2.5 milligram per  
11 kilogram cohort, there was not a substantial  
12 difference in the incidence of keratopathy between  
13 the two dose levels.

14 Although the mechanism by which belantamab  
15 mafodotin causes ocular toxicity is not completely  
16 understood, it's thought to be due to the  
17 monomethyl auristatin F, or MMAF payload. Ocular  
18 toxicity, including keratopathy, has been observed  
19 as a class effect with MMAF-containing antibody  
20 drug conjugates, including in animal toxicology  
21 studies, however, there are currently no approved  
22 antibody drug conjugates that contain MMAF.

1           Keratopathy is characterized by damage to  
2           the corneal epithelium. On the right is an example  
3           of the appearance of corneal punctate keratopathy.  
4           The fluorescent green staining highlights areas  
5           where the corneal epithelium is disrupted. Because  
6           the corneal epithelium normally serves as a  
7           protective barrier, patients with keratopathy are  
8           at risk of developing more severe corneal defects,  
9           including corneal ulcers, which in severe cases may  
10          require a corneal transplant.

11           Patients in the DREAMM-2 trial were  
12          monitored closely with ophthalmic exams at baseline  
13          and prior to each dose of the belantamab mafodotin.  
14          Dose modifications are the primary mitigation  
15          strategy for the toxicity, as no therapeutic  
16          interventions have been identified and topical  
17          corticosteroids failed to show an impact on the  
18          incidence or severity of keratopathy in the DREAMM-  
19          2 ocular substudy.

20           Two different scales were used to grade  
21          ocular toxicity in DREAMM-2, the study-specific  
22          scale termed the Keratopathy and Visual Acuity, or

1 KVA scale, which was developed with input from FDA,  
2 and CTCAE grading, which is primarily based on  
3 symptoms and impact on activities of daily living.  
4 Some of the key aspects of the grading are  
5 underlined. The overall grade for the KVA scale is  
6 based on the more severe finding in the most  
7 affected eye.

8 Because many clinically relevant and serious  
9 ocular toxicities such as keratopathy are not  
10 necessarily symptomatic, FDA's analysis of ocular  
11 toxicity in DREAMM-2 is primarily based on the KVA  
12 scale, which incorporates both corneal exam and  
13 visual acuity findings, allowing for a more  
14 granular assessment of this type of ocular  
15 toxicity.

16 This shows a comparison of the grading by  
17 the KVA scale and CTCAE in DREAMM-2 for the  
18 2.5 milligram per kilogram cohort. The comparison  
19 is based on the corneal exam finding component of  
20 the KVA scale. Although not shown here, we do note  
21 that 2 patients in the 2.5 milligram per kilogram  
22 cohort had grade 4 events per the overall KVA scale

1 based on changes in visual acuity. There are some  
2 differences in the individual grades, particularly  
3 for grades 2 and 3, however, the overall rate of  
4 keratopathy is the same for both scales.

5 In addition, based on the CTCAE grading, we  
6 note that investigators assessed 31 percent of  
7 patients as having had a significant impact on  
8 their instrumental activities of daily living and  
9 27 percent of patients as having had a significant  
10 impact on their activities of daily living due to  
11 keratopathy.

12 I'm going to pause for one moment because  
13 I'm getting a lot of notes from the committee  
14 members that they're not able to see the slides.  
15 Could our technical team assist?

16 (Pause.)

17 DR. BAINES: I'm going to keep going while  
18 we work out the technical difficulties for some of  
19 our members; so again I apologize for the delays.

20 Characteristics of the incidence and  
21 severity of keratopathy are shown here. In the  
22 proposed 2.5 milligram per kilogram dose level, 71

1 percent of patients had at least one  
2 treatment-emergent event of keratopathy and  
3 44 percent had severe grade 3 or 4 keratopathy.

4 We note that there was only one event  
5 designated as serious that occurred in the  
6 lyophilized cohort. Adverse events are defined as  
7 serious if they result in death, are considered  
8 life-threatening, require hospitalization, or  
9 result in significant incapacity or disruption of  
10 normal life function. Although ocular toxicity may  
11 be clinically significant and meaningful to  
12 patients, in most cases it is unlikely to be  
13 life-threatening or require hospitalization.

14 In terms of the timing for onset of  
15 keratopathy, the median time to onset for grades 2  
16 or higher events was approximately a month and the  
17 majority of patients developed keratopathy within 2  
18 to 3 cycles, with a cumulative incidence of  
19 keratopathy of 66 percent by the end of cycle 3.

20 Patients also had recurrent events.  
21 Thirty-nine percent of patients in the  
22 2.5 milligram per kilogram cohort had more than one

1 event of grade 2 or worse keratopathy. We also  
2 note the higher incidence of keratopathy as well as  
3 severe keratopathy in the small cohort of patients  
4 who received the 3.4 milligram per kilogram  
5 lyophilized presentation of belantamab mafodotin.

6 While the numbers are small and there are  
7 some differences in underlying baseline  
8 characteristics for this cohort, this observation  
9 raises some uncertainty about the safety of the  
10 proposed presentation for marketing given that we  
11 do not have data with lyophilized presentation at  
12 the 2.5 milligram per kilogram dose.

13 A substantial proportion of patients in  
14 DREAMM-2 did experience worsening of their vision.  
15 Fifty-three percent of patients experienced any  
16 worsening in visual acuity and 26 percent of  
17 patients had a severe worsening in visual acuity.  
18 In addition, 17 percent of patients in the  
19 2.5 milligram per kilogram cohort had worsening to  
20 20-50, or worse in the better seeing eye, which is  
21 a level at which they may not legally be able to  
22 drive, and one patient in this cohort had worsening

1 to 20-200 or worse in the better seeing eye, which  
2 is a level that meets the definition of legal  
3 blindness.

4 While this particular patient had complete  
5 vision loss in their other eye at baseline, there  
6 were 2 patients in the higher 3.4 milligram per  
7 kilogram dose level who developed severe vision  
8 loss in both eyes with bilateral worsening of  
9 visual acuity -- [inaudible - unmuted voice].

10 I apologize. Could everyone who is not  
11 speaking please mute their phone?

12 Do our hosts have the ability to mute  
13 individual speakers?

14 DR. HOFFMAN: I'm sorry. Can we make sure  
15 that everyone who is not speaking, mutes?

16 (Pause.)

17 DR. BAINES: Okay. I'm going to try to go  
18 ahead again.

19 While this particular patient had complete  
20 vision loss in their other eye at baseline, there  
21 were 2 patients in the higher 3.4 milligram per  
22 kilogram dose level who developed severe vision

1 loss in both eyes, with bilateral worsening of  
2 visual acuity to 20-200 or worse.

3 The time course for the 3 patients who  
4 experienced severe vision loss is depicted here.  
5 The blue shading indicates when the doses of  
6 belantamab mafodotin were received and the unshaded  
7 boxes in that same row indicate that no further  
8 doses were administered.

9 The middle rows indicate the visual acuity  
10 for the right and left eye over time. The red  
11 shading highlights the maximum worsening in visual  
12 acuity and the green shading highlights resolution  
13 to levels at or near the patient's baseline.

14 The main purpose of this slide is to  
15 demonstrate that in some cases it took several  
16 months for visual acuity changes to resolve despite  
17 early discontinuation of belantamab mafodotin.

18 This slide shows the relationship between  
19 keratopathy and ocular symptoms. Of the 67  
20 patients with keratopathy, only 43 percent had any  
21 of the ocular symptoms listed here, therefore,  
22 57 percent of patients with keratopathy did not

1 have any ocular symptoms. The absence of symptoms  
2 in the majority of patients, despite findings on  
3 ophthalmic exams, raises the concern that  
4 keratopathy could go undetected in the absence of  
5 close monitoring by an ophthalmologist.

6 Again, if keratopathy is not identified and  
7 managed appropriately, patients could go on to  
8 develop corneal ulcers, which in severe cases may  
9 require a corneal transplant.

10 As mentioned, dose modifications are the  
11 only mitigation strategy identified to date for the  
12 ocular toxicity with belantamab mafodotin. Dose  
13 modifications due to keratopathy were frequent in  
14 DREAMM-2 despite evaluation of the lower  
15 2.5 milligram per kilogram dose. Nearly half of  
16 the patients in the 2.5 milligram per kilogram  
17 cohort had at least one dose modification due to  
18 keratopathy, including 47 percent of patients with  
19 at least one dose interruption and 23 percent of  
20 patients with a dose reduction.

21 The rates of dose modifications due to  
22 keratopathy were not significantly different

1 between the 2.5 milligram per kilogram and  
2 3.4 milligram per kilogram dose levels; and even  
3 though the protocol only recommended  
4 discontinuation for grade 4 keratopathy, there were  
5 discontinuations due to grade 2 or 3 keratopathy.

6 Despite dose modifications, not all patients  
7 had resolution of keratopathy. The outcomes for  
8 patients with keratopathy in the 2.5 milligram per  
9 kilogram cohort are shown here. The data is shown  
10 for both the primary analysis, at which time the  
11 median follow-up was 6.3 months, and also for the  
12 90-day safety update, at which time there was a  
13 median follow-up of 9 months. Based on the safety  
14 update, we note that there was a new grade 4  
15 keratopathy event of bilateral corneal ulcers  
16 reported for a patient in the 2.5 milligram per  
17 kilogram cohort.

18 In the DREAMM-2 trial, resolution of  
19 keratopathy was defined as recovery to grade 1 or  
20 lower, therefore the recovery rates presented here  
21 are based on the patients who had grade 2 or higher  
22 events, which include 59 patients in the

1 2.5 milligram per kilogram cohort as of the 6-month  
2 data cutoff and 60 patients as of the 9-month data  
3 cutoff.

4 For the primary analysis, as of the last  
5 assessment, the recovery rate was 41 percent. This  
6 increased to 48 percent with the extended 9-month  
7 follow-up. Among the 52 percent of patients who  
8 had unresolved keratopathy, 27 percent remained on  
9 treatment or in active follow-up and 25 percent had  
10 keratopathy that was ongoing when follow-up ended.  
11 The median time to resolution for events that  
12 resolved was approximately 2 months.

13 Because information on resolution for  
14 25 percent of the 60 patients with grade 2 or  
15 higher keratopathy will not be available, as these  
16 patients either died or were lost to follow-up with  
17 persistent keratopathy, there is incomplete  
18 information on the reversibility of these events.

19 A direct assessment of the patient  
20 experience with ocular toxicity in DREAMM-2, based  
21 on patient-reported outcomes, also showed that the  
22 ocular toxicity had a measurable impact both in

1 terms of the severity of symptoms and interference  
2 with usual or daily activities. This figure shows  
3 the PRO-CTCAE results for blurred vision symptom  
4 severity and interference for the 2.5 milligram per  
5 kilogram dose level. The colored bars represent  
6 the proportion of patients at each time point by  
7 degree of severity and extent of interference.

8 In terms of symptom severity after week 4,  
9 the majority of patients who responded to each time  
10 point reported some degree of blurred vision, and  
11 at week 13, over 80 percent of respondents reported  
12 at least mild blurred vision. Patients who had any  
13 blurred vision were asked the degree of  
14 interference with their usual or daily activities.

15 By week 7, among the patients who had  
16 blurred vision, approximately 50 percent reported  
17 that blurred vision was interfering somewhat with  
18 their usual daily activities, and at week 10,  
19 approximately 50 percent of respondents reported  
20 quite a bit or very much interference with usual  
21 activities due to blurred vision.

22 Limitations of the PRO assessments in

1 DREAMM-2 included the suboptimal completion rates  
2 and the fact that many patients did not have an  
3 opportunity to respond to PRO questions because of  
4 disease progression, death, or discontinuation due  
5 to adverse events. In addition, the trial was not  
6 designed or powered to assess the maintenance of  
7 quality of life.

8           Despite these limitations, a substantial  
9 proportion of patients reported severe visual  
10 symptoms with significant interference in their  
11 daily activities. According to the applicant's  
12 analysis, at any on-treatment time point, 33  
13 percent of respondents reported severe or very  
14 severe blurred vision and 45 percent of respondents  
15 reported quite a bit or very much interference with  
16 their usual activities.

17           In addition to the major issues and impacts  
18 of the ocular toxicity with belantamab mafodotin as  
19 I have discussed, there are several additional  
20 issues that raise uncertainty. These include  
21 concerns whether the proposed 2.5 milligram per  
22 kilogram dose is acceptable given the high rate and

1 severity of ocular toxicity, which were similar to  
2 the rates and severity with the higher  
3 3.4 milligram per kilogram dose, as well as the  
4 high rates of dose modifications despite this being  
5 the lower of the two doses evaluated. I will  
6 discuss this issue in more detail on the next  
7 slide.

8 Second, there are concerns regarding the  
9 efficacy and safety in older patients. The trial  
10 enrolled a younger population of patients with a  
11 median age of 65 among patients in the  
12 2.5 milligram per kilogram cohort compared to the  
13 median age at diagnosis of 69 for patients with  
14 multiple myeloma in the U.S., and patients with  
15 relapsed/refractory multiple myeloma who have  
16 received multiple prior therapies are likely to be  
17 older compared to the median age at diagnosis.

18 We note that although the number of patients  
19 age 75 and above in the 2.5 milligram per kilogram  
20 cohort was small, only 1 out of 13 patients  
21 achieved a response. Additionally, there were  
22 higher rates of keratopathy and visual acuity

1 changes in patients age 65 and above compared to  
2 patients under the age of 65 enrolled in the trial.

3 Third, as mentioned, there was an increased  
4 incidence of keratopathy, including severe  
5 keratopathy, in patients who received a lyophilized  
6 presentation of belantamab mafodotin compared to  
7 the frozen presentation at the 3.4 point milligram  
8 per kilogram dose.

9 Although the number of patients is small and  
10 there were some underlying differences in baseline  
11 disease characteristics, this observation raises  
12 residual uncertainty about the safety of the  
13 proposed lyophilized presentation for marketing  
14 considering that we do not have data with the  
15 lyophilized product at 2.5 milligram per kilogram  
16 dose.

17 Regarding the uncertainty with the proposed  
18 2.5 milligram per kilogram dosage regimen, this  
19 table shows the response rate and incidence of  
20 ocular toxicity for each of the dose levels  
21 evaluated in the phase 1, first-in-human DREAMM-1  
22 trial. The data is limited, especially at doses

1 lower than 2.5 milligram per kilogram, due to  
2 enrollment of only 1 to 4 patients per dose level.

3 In the dose escalation phase of the trial,  
4 all three patients in the 3.4 milligram per  
5 kilogram cohort achieved a response compared to a  
6 response rate of 13 percent in the 2.5 milligram  
7 per kilogram cohort and 25 percent in the  
8 1.92 milligram per kilogram cohort.

9 Although the 3.4 milligram per kilogram dose  
10 was selected for expansion, we note that the  
11 perceived difference in efficacy between the 2.5  
12 and 3.4 milligram per kilogram dose levels was not  
13 observed in DREAMM-2.. In terms of safety, ocular  
14 toxicity was observed at dose levels as low as 0.12  
15 milligram per kilogram with grade 2 ocular toxicity  
16 observe starting at the 0.96 dose and  
17 grade 3 ocular toxicity starting at the  
18 1.92 milligram per kilogram dose.

19 Although the safety data for dose levels  
20 below 2.5 milligram per kilogram is very limited,  
21 exposure-response analysis of ocular toxicity in  
22 DREAMM-2, adjusted by baseline soluble BCMA and

1 history of dry eye, shows that increasing dose and  
2 increasing trough concentration of belantamab  
3 mafodotin is significantly associated with an  
4 increased probability of grade 2 or higher and  
5 grade 3 or higher events of ocular toxicity.

6 To summarize the key points, lower doses or  
7 alternative schedules of dosing for belantamab  
8 mafodotin have not been fully explored. An  
9 exposure-response relationship for ocular toxicity  
10 was observed with increasing trough concentrations  
11 of the belantamab mafodotin. Taken together, this  
12 data suggests that a lower dose, or possibly an  
13 alternative dosing schedule, may help minimize the  
14 risk of ocular toxicity without a clinically  
15 significant impact on efficacy.

16 I'd now like to summarize the major concerns  
17 regarding the ocular toxicity in DREAMM-2 with a  
18 focus on patients who receive the 2.5 milligram per  
19 kilogram dose. There was a high incidence of  
20 ocular toxicity, including severe events, and the  
21 rates and severity did not differ between the two  
22 dose levels. Patients experienced significant

1 changes in visual acuity, including severe vision  
2 loss in some patients.

3 The changes in vision also had a measurable  
4 impact based on patient-reported outcome. Many  
5 patients did not have ocular symptoms despite  
6 having keratopathy on exam, raising a concern that  
7 keratopathy may not be identified in the absence of  
8 close monitoring by an ophthalmologist.

9 The rates of dose modifications due to  
10 ocular toxicity were high despite this being the  
11 lower of the two starting doses. Despite close  
12 monitoring and dose modifications, patients have  
13 recurrent and unresolved events of ocular toxicity.  
14 Because information on resolution is not available  
15 for the patients who had ongoing keratopathy when  
16 the follow-up ended, the data regarding the  
17 reversibility of ocular toxicity is incomplete.

18 Lastly, there are additional uncertainties  
19 regarding the proposed 2.5 milligram per kilogram  
20 dosage regimen, the efficacy and safety in older  
21 patients, and the safety of the lyophilized  
22 presentation at this proposed 2.5 milligram per

1 kilogram dose.

2 I will now discuss some of the  
3 considerations for the overall benefit-risk profile  
4 of belantamab mafodotin. Despite recent advances  
5 in the treatment of multiple myeloma, there  
6 continues to be an unmet medical need in patients  
7 who have received multiple lines of therapy and are  
8 refractory to the major classes of available  
9 anti-myeloma therapies.

10 Belantamab mafodotin is a first-in-class  
11 anti-BCMA, antibody drug conjugate with a novel  
12 mechanism of action compared to the currently  
13 approved anti-myeloma therapies.

14 I've lost the slides again, but I think in  
15 the interest of time, I'll keep talking, and we'll  
16 try to get it back up to slide 23.

17 Belantamab mafodotin is a first-in-class  
18 anti-BCMA antibody drug conjugate with a novel  
19 mechanism of action compared to the currently  
20 approved anti-myeloma therapies, and the overall  
21 response rate of 31 percent in the 2.5 milligram  
22 per kilogram cohort represents a clinically

1 meaningful benefit in this heavily pretreated  
2 population.

3 It is notable that patients enrolled in the  
4 2.5 milligram per kilogram cohort had a median of  
5 7 prior lines of therapy, however, ocular toxicity  
6 associated with belantamab mafodotin remains a  
7 major safety concern. The incidence and severity  
8 of keratopathy observed in DREAMM-2 was high and  
9 associated with clinically relevant decreases in  
10 visual acuity, including severe vision loss in some  
11 patients and significant impact on patients' daily  
12 activities.

13 This is a unique toxicity that is not  
14 typically seen with anti-MM agents, and currently  
15 there is no other approved antibody drug conjugates  
16 that contain MMAF. Despite evaluation of the  
17 lower 2.5 milligram per kilogram starting dose,  
18 close monitoring and implementation of dose  
19 modifications, many patients have recurrent and  
20 unresolved ocular toxicity. Because many patients  
21 had keratopathy on ophthalmic exam in the absence  
22 of other ocular symptoms, there is a need for close

1 monitoring to ensure appropriate dose modifications  
2 are implemented to prevent severe keratopathy.

3 Overall, considering the rates and severity  
4 of ocular toxicity associated with belantamab  
5 mafodotin, the lack of clear mitigation strategies  
6 and incomplete data regarding reversibility, there  
7 is uncertainty whether the proposed modification  
8 strategy is sufficient to mitigate the risk.

9 Although the efficacy results from DREAMM-2  
10 suggest a benefit with belantamab mafodotin and the  
11 proposed population of patients with relapsed  
12 refractory multiple myeloma, it is not clear  
13 whether the benefit outweighs the risk of ocular  
14 toxicity.

15 In light of the issues with ocular toxicity,  
16 a risk mitigation and evaluation strategy, or REMS  
17 program, with elements to assure safe use, or  
18 ETASU, has been proposed. The main reasons are  
19 twofold.

20 First, because many patients are  
21 asymptomatic and keratopathy may not be identified  
22 in the absence of close monitoring with ophthalmic

1 exam, risk mitigation measures are needed to  
2 prevent or reduce the risk or the severity of risk  
3 with ocular toxicity.

4 Second, because the risk is unique to this  
5 product and oncologists likely do not have  
6 significant experience managing ocular toxicities,  
7 labeling is unlikely to be sufficient to mitigate  
8 the risk. Therefore, if belantamab mafodotin were  
9 to be approved, a REMS with ETASU would be needed.

10 Given the information available at this time  
11 [indiscernible], we would like the committee to  
12 discuss whether the risk of ocular toxicity has  
13 been adequately characterized in DREAMM-2 to allow  
14 for an assessment of the benefit-risk profile and  
15 to discuss the impact of ocular toxicity on the  
16 benefit-risk profile for belantamab mafodotin.

17 While belantamab mafodotin appears to have a  
18 treatment benefit in the proposed population, given  
19 the available data regarding the risk of ocular  
20 toxicity, the incomplete characterization of this  
21 toxicity, and the residual uncertainties at this  
22 time, we ask the committee to vote on the question,

1 does the demonstrated benefit of belantamab  
2 mafodotin outweigh the risks in the proposed  
3 patient population with multiple myeloma?

4 Thank you. This concludes the FDA  
5 presentation. Thank you for bearing with us  
6 through the technical difficulties.

7 **Clarifying Questions to Presenters**

8 DR. HOFFMAN: Thank you very much. We're  
9 now going to take clarifying questions to the  
10 presenters. Please use your raised hand icon to  
11 indicate that you have a question, and please  
12 remember to put your hand down after you have asked  
13 your question. Please remember to state your name  
14 for the record before you speak. Please direct  
15 your question to a specific presenter if you can.

16 It will be helpful to acknowledge the end of  
17 your question with a thank you and end of any  
18 follow-up question with "that is all for my  
19 question" so we can move on to the next panel  
20 member. It also looks like we're posting a revised  
21 agenda for the meeting that you can see.

22 DR. WAPLES: Hi. This is Yvette Waples, the

1 acting DFO for ODAC. I just wanted to go quickly  
2 over the agenda for the remainder of the of the  
3 day, as some of the time has shifted.

4 For the next, about, 30 minutes, we'll be  
5 doing clarifying questions to the presenters, and  
6 we will need to take a 30-minute lunch break, which  
7 is different from the previous agenda, and then the  
8 OPH will start following the lunch break. Then we  
9 will have the questions to the committee followed  
10 by adjournment.

11 I apologize for the change of the agenda for  
12 today, and it is posted on the screen now. That  
13 ends my update. Thank you.

14 DR. HOFFMAN: Okay. We have a question from  
15 Dr. Sung.

16 (No response.)

17 DR. HOFFMAN: Please unmute yourself.

18 (No response.)

19 DR. HOFFMAN: Why don't we move for the  
20 moment on to Dr. Nowakowski, if you want to unmute  
21 yourself and ask a question.

22 DR. NOWAKOWSKI: Thank you. I have a couple

1 of questions to the sponsor, and maybe we'll start  
2 from the timing of the development of keratopathy  
3 and the response. What's the time to response in  
4 this study? How quickly do we see that patients  
5 are responding? Because as we see from the data,  
6 the chance of response is about 1 in 3 and the  
7 chance of developing keratopathy is about 2 in 3.

8 So I'm trying to understand the timing of  
9 those two events and is there a subgroup of  
10 patients which can stop treatment early without  
11 evidence of response before they develop  
12 keratopathy. And the reverse is true, that the  
13 majority of patients who are responding actually  
14 are developing keratopathy just because of duration  
15 of exposure.

16 This will be my first question. I can  
17 proceed to some other questions or maybe we can  
18 address this one, and then I can ask additional  
19 questions afterwards.

20 DR. GUPTA: Absolutely. This is Ira Gupta,  
21 and I'll begin by answering your question. The  
22 median time to onset of response is 1.4 months and

1 the median time to onset of keratopathy is 37 days.  
2 We have not identified any subgroup of patients who  
3 may or may not respond to keratopathy.

4 Given that this is a class effect of a  
5 disease, it is expected that most likely all  
6 patients will develop keratopathy, and that's the  
7 importance of educating these events as well as  
8 ensuring that all patients are enrolled in the REMS  
9 program and a patient will not be dosed unless seen  
10 by a eye care professional.

11 DR. NOWAKOWSKI: Thank you. In terms of  
12 visiting with the ophthalmologist, I understand  
13 that the ophthalmological examination was required  
14 prior to each administration of the drug. What was  
15 the compliance with that on the study? Was it  
16 pretty good? Because in our community or treatment  
17 centers, in our experience it's something that's  
18 very difficult to synchronize those.

19 DR. GUPTA: The events are designed with the  
20 patient safety in mind, and it aligns with what was  
21 done in the clinical trials. We've seen a  
22 compliance rate of 98 percent with eye care visits

1 in the clinical trial. We will also have patient  
2 support services to assist patients with scheduling  
3 eye care appointments in the time frame needed for  
4 dosing, and therefore we are confident that this  
5 will be effective in the real-world setting.

6 DR. NOWAKOWSKI: Maybe this would be more of  
7 a question to Dr. Colby but also to the sponsor.  
8 How specific are those findings in terms of the  
9 ability of regular community ophthalmologists,  
10 which many of our smaller oncology practices will  
11 have to work with, to assess those changes? Does  
12 it require a corneal specialist like Dr. Colby is,  
13 or can it be done by an ophthalmologist, a  
14 practicing ophthalmologist after some training?

15 DR. GUPTA: Keratopathy is an eye  
16 examination finding, and that could be identified  
17 on the slit-lamp examination. I'm going to request  
18 Dr. Colby to share her perspective on which eye  
19 care professionals can perform this examination and  
20 identify the keratopathy.

21 DR. COLBY: Thank you, Dr. Gupta. This is  
22 Kathryn Colby. The question is regarding whether

1 you need to be a cornea specialist to diagnose this  
2 condition, and the answer is no. The KVA scale  
3 requires measurement of visual acuity, which any  
4 eye care professional is trained to do as one of  
5 the first parts of their training.

6 Secondly, the keratopathy is a very  
7 characteristic although subtle finding, and this,  
8 too, although it seems unfamiliar to  
9 non-ophthalmologists, is readily visible on the  
10 slit-lamp exam. The sponsor has created grading  
11 systems to help the eye care professional be able  
12 to get the appropriate grade of the keratopathy,  
13 and in conjunction with the visual acuity, this  
14 will enable pretty much any trained eye care  
15 professional, ophthalmologist or optometrist, to  
16 correctly identify these events. Thank you.

17 DR. HOFFMAN: Okay.

18 DR. NOWAKOWSKI: Thank you. This is  
19 helpful; just two more small follow-up questions.  
20 Could you just remind us, in the study, what type  
21 of centers participated in the study? Were they  
22 mainly academic centers, and what proportion of

1 patients were coming from U.S. or international?  
2 Because the median age of the studies are different  
3 than what we'd expect for this patient population,  
4 and also raises the question that it's probably  
5 easier to monitor some of those patients in an  
6 academic setting versus in a community setting.

7 If you could give us a little bit of an idea  
8 about that, the centers which participated in the  
9 study.

10 DR. GUPTA: This was a global study in which  
11 58 centers participated. It included mainly  
12 tertiary care and academic centers where patients  
13 with relapsed/refractory multiple myeloma are  
14 treated. Sixty percent of the patients were  
15 enrolled in the U.S.

16 DR. NOWAKOWSKI: So really, no community  
17 centers are experienced with this.

18 The last question is we often give a lot of  
19 toxicity, unfortunately, to our patients with  
20 myeloma as well, and some of this includes  
21 neuropathy from some other classes of drugs, which  
22 were used in the disease. In the elderly, the

1 visual acuity is very important for stability and  
2 risk of falls, particularly in a setting of  
3 neuropathy.

4 Do you have any data regarding the falls and  
5 the risk of falls in this study or other events,  
6 which could be associated with the combination of  
7 neuropathy and decreased visual acuity?

8 DR. GUPTA: We do not have any data on the  
9 number of falls that the patients might have  
10 because of changes in visual acuity, but I think  
11 what's important to remember is that keratopathy is  
12 an eye examination finding that does not always  
13 lead to changes in visual acuity. In fact, 17  
14 percent of the patients had meaningful changes in  
15 visual acuity that led to difficulties in reading  
16 and writing.

17 I would request Dr. Pete Voorhees to share  
18 his perspective on the quality of life of the  
19 patients that he has treated with Belamaf.

20 DR. VOORHEES: Thank you. My name is Peter  
21 Voorhees. I'm a multiple myeloma physician at  
22 Levine Cancer Institute in Charlotte, North

1 Carolina, and I've been caring for myeloma patients  
2 for 16 years. I was a PI on the DREAMM-1 study, so  
3 I do have experience treating patients with  
4 belantamab mafodotin over a range of doses. I was  
5 also a PI on the DREAMM-2 study.

6 Between the DREAMM-1 and DREAMM-2 studies,  
7 as well as compassionate use, I've cared for 14  
8 patients with belantamab, the majority of whom were  
9 under my direct longitudinal care, and I have  
10 actually treated patients up to the age of 89 with  
11 this particular product.

12 With regards to the potential impact of  
13 keratopathy on quality of life, I would make a few  
14 points. First off, as Dr. Gupta alluded to, the  
15 physical exam finding of keratopathy does not  
16 impact quality of life in the absence of symptoms.

17 The FDA has appropriately expressed concern  
18 about the lack of symptoms in some patients and  
19 risk of inappropriately dosing patients with  
20 clinically significant but asymptomatic  
21 keratopathy. However, in the DREAMM-2 study and in  
22 the proposed REMS program, belantamab mafodotin is

1 held and potentially dose reduced based on corneal  
2 physical exam findings and symptoms, not symptoms  
3 alone.

4 I would argue that for patients with grade 1  
5 symptoms of keratopathy, that has minimal to no  
6 impact on quality of life. I would say that for  
7 patients with grade 3 symptoms, there is clearly an  
8 impact on quality of life. And for those with  
9 grade 2 symptoms, there can also be an impact on  
10 quality of life that varies depending on the number  
11 or the severity of the corneal symptoms; however,  
12 with dose holds and reductions, the impact on  
13 quality of life can be mitigated.

14 As outlined in the briefing document,  
15 resolution of symptoms that impact quality of life,  
16 such as impaired vision, occur more quickly than  
17 the resolution of the physical exam findings, and  
18 the fact that only 3 percent of patients  
19 discontinued treatment due to keratopathy supports  
20 the argument that it was successfully managed with  
21 those dose holds and reductions. If the severity  
22 of the keratopathy was of a sufficiently prolonged

1 duration or irreversible, would we not have seen  
2 more treatment discontinuations due to this adverse  
3 event?

4 So clearly this is a very important side  
5 effect, but I think with close management and  
6 appropriate guard rails in the form of a REMS  
7 program with ophthalmologic exams before each dose  
8 and appropriate informed consent and patient  
9 counseling, I do feel that this agent can be given  
10 safely. And considering the overall response rate  
11 of 31 percent and the median duration of response  
12 of 11 months with the 13-month follow-up that was  
13 presented at ASCO, I do believe that the  
14 benefit-risk profile does favor approval of this  
15 agent. Thank you.

16 DR. HOFFMAN: Thank you. Dr. Chodosh?

17 DR. CHODOSH: Can you hear me?

18 DR. HOFFMAN: Yes.

19 DR. CHODOSH: Okay, great.

20 I have two questions for the sponsor. One  
21 is I'm curious how these dose holds and  
22 modifications might have affected the outcomes of

1 therapy. This gets at the FDA's comment that lower  
2 doses have not really been thoroughly vetted.

3 I wonder if there's any data or suggestions  
4 because if the patients did fine with lower doses  
5 and less frequent therapy, and the corneal changes  
6 are directly related to the quantity of drug in the  
7 system, then I'm just wondering if there's any data  
8 on that.

9 DR. GUPTA: Dose modifications include both  
10 dose delays as well as dose reductions, and they  
11 were allowed to manage treatment-related toxicity.  
12 Patients were able to achieve clinically meaningful  
13 deep and durable responses in spite of these dose  
14 modifications, and the dose modifications did not  
15 preclude efficacy. In addition, the events  
16 appeared to be reversible over time.

17 I would like to request Dr. Sagar Lonial to  
18 provide his perspective on this.

19 DR. LONIAL: Thank you, Dr. Gupta. This is  
20 Sagar Lonial. I think the question about dose  
21 modifications and dose holding really is an  
22 important question because that is the primary

1 method by which we manage a lot of these patients.

2           What I can tell you is that when we went  
3 back and analyzed the patients who had dose holds,  
4 which was the predominant method that was used, we  
5 demonstrated that most patients actually maintained  
6 or continued to improve their response with a dose  
7 hold, and then when retreated either maintained  
8 that or continued to deepen their response. The  
9 typical concern we often have about dose holding in  
10 oncology is that you lose control. That only  
11 occurred in a minority of patients. The majority  
12 were able to stay on, and stay on for a longer  
13 period without necessarily a dose reduction.

14           DR. GUPTA: Thank you, Dr. Lonial.

15           DR. CHODOSH: My second question --

16           DR. GUPTA: If I could answer -- sorry.

17 Please go ahead.

18           I just wanted to answer the second question  
19 that you had about the dose selection.

20           DR. CHODOSH: I only stated one question. I  
21 have a second question.

22           DR. GUPTA: Okay. Please go ahead.

1 DR. CHODOSH: The second question is really  
2 for Dr. Colby. I was wondering if there was any  
3 evidence by imaging of damage to the subbasal nerve  
4 plexus in treated patients, and given the short  
5 time of the study, whether, given the  
6 epitheliopathy, we might expect long-term changes  
7 to the corneal nerves that might predispose  
8 patients to neurotrophic keratopathy later on.

9 DR. GUPTA: Dr. Colby, could you answer the  
10 question?

11 DR. COLBY: Yes. Thank you for the  
12 question. This is Kathryn Colby. There was no  
13 evidence of reduction in the subbasal nerve plexus  
14 on confocal microscopy, but I do not think that  
15 that particular measure was specifically looked at.  
16 We did not see any in the patients that we  
17 evaluated in our center.

18 DR. CHODOSH: Thank you.

19 DR. COLBY: Thank you.

20 DR. HOFFMAN: Okay. Thank you. I'll just  
21 remind the people who are asking questions to state  
22 your name for the record.

1 Next, Dr. Garcia?

2 (No response.)

3 DR. HOFFMAN: Unmute, please.

4 DR. GARCIA: Thank you. Thank you,  
5 Dr. Hoffman.

6 Jorge Garcia. I have a couple comments and  
7 two questions for the sponsor mainly. When you  
8 look at DREAMM-1 and you look at the dose  
9 escalation, as alluded by one of the FDA  
10 physicians, at the dose of 1.92, a hundred percent  
11 of those patients experienced grade 2 ocular  
12 toxicity; yet when the dose was escalated to 2.5,  
13 only 38 percent of those patients on that cohort  
14 experienced the same degree of grade 2 ocular AEs,  
15 which leads me to believe that may be an underlying  
16 characteristic of the patient population that may  
17 have enrolled on those two cohorts.

18 It's somewhat disappointing to me that  
19 knowing DREAMM-1 and the ocular AEs observed in  
20 that study, that the sponsor only looked at 30  
21 patients in the ocular substudy for DREAMM-2.

22 Do you guys have any data as to what

1 appeared to be a quarter, one quarter, of patients  
2 who did not experience any keratopathy in DREAMM-2?  
3 That's for the sponsor. And also maybe for  
4 Dr. Anderson and Dr. Lonial, if they could also  
5 comment as to if indeed Belamaf gets approved, then  
6 clinically we'll have two agents for that patient  
7 population, selinexor and Belamaf.

8 So although it's hard to compare across  
9 trials, and I think Dr. Lonial did an excellent job  
10 trying to actually tease out some of those  
11 differences with regards to efficacy and side  
12 effects, both agents appeared to be toxic, but  
13 their toxicities appeared to be different in nature  
14 and clearly uniquely different for Belamaf.

15 How will you as a clinician decide or define  
16 Belamaf over selinexor in that refractory space?

17 Thank you.

18 DR. GUPTA: Thank you for the question.  
19 I'll answer your question about the data that was  
20 seen in those patients who did not have  
21 keratopathy, and then hand it over to Dr. Sagar  
22 Lonial to share his perspective on where Belamaf

1 would sit [indiscernible] for the management of  
2 relapsed/refractory multiple myeloma.

3 Keratopathy is exposure dependent, and  
4 consequently patients who stayed on treatment for a  
5 short period of time may not develop these events.  
6 These are those subgroup of patients that did not  
7 have keratopathy and likely progressed very  
8 quickly. We have not identified any patient  
9 disease characteristics which might point towards a  
10 subgroup of patients that may not have keratopathy.

11 It is therefore very important that we  
12 ensure that all patients and the treating  
13 physicians are educated about these programs. All  
14 patients will be enrolled in the REMS, and a  
15 patient cannot be dosed unless seen by an eye care  
16 professional.

17 Dr. Lonial, could you add your perspective  
18 on the second question?

19 DR. LONIAL: Thank you. Thank you very  
20 much, Dr. Gupta.

21 This is Sagar Lonial. From my view, as you  
22 try and think about what you're going to do with a

1 given patient, as you well know, you weigh both the  
2 known and unknown adverse events, you weigh what's  
3 in front of you with the patient, and you try and  
4 make the best reasonable decision that you can,  
5 guided by data.

6 I will tell you that, in my view, to me one  
7 of the most important factors I use is not just  
8 performance status and things along those lines,  
9 but I really think about the likelihood of gaining  
10 significant durable response; that if you look at  
11 the data that I presented at ASCO this year, which  
12 is a 13-month follow-up of the DREAMM-2 study that  
13 was not part of the FDA review, the median duration  
14 of response was 11 months for that group with a  
15 median overall survival of 13.7 months for the  
16 entire trial.

17 That to me speaks to safety and efficacy in  
18 balance and says that using the dose modifications  
19 and appropriate holds and partnering with  
20 ophthalmologists and the REMS program that's being  
21 described, you can safely keep responders on if  
22 they are responding and offer them significant

1 clinical benefit. An 11-month DOR is significantly  
2 longer than anything else that's out there. When  
3 you couple that with the adverse event profile as I  
4 showed during the core deck in that one slide, I  
5 think it does make Belamaf a pretty easy go-to  
6 option regardless of age.

7 DR. CHODOSH: Thank you.

8 Dr. Hoffman, if you allow me to have a final  
9 question --

10 DR. HOFFMAN: Yes.

11 DR. CHODOSH: -- for the sponsor as well,  
12 Dr. Colby from ophthalmology.

13 One of the questions that we're being asked  
14 as committee members relates to whether or not  
15 DREAMM-2 has fully characterized, to the extent of  
16 what we know, the AEs seen on therapy. In  
17 addition, or perhaps my question relates to what  
18 else one can do if you dose reduce or hold the  
19 therapy, dose modify -- I'm not sure that I have  
20 seen or heard yet what is the true management of  
21 keratopathy from therapy in addition to stopping  
22 therapy or modifying the dose of the treatment.

1 DR. GUPTA: Given that corneal events are  
2 better than expected, as was even the Belamaf,  
3 we've been working very closely with  
4 ophthalmologists to ensure that these events are  
5 correctly characterized.

6 Throughout our clinical development program,  
7 eye examination and visual function data have been  
8 collected in numerous ways, and the KVA scale is a  
9 regress [ph] scale that uses objective eye  
10 examination findings to identify and grade corneal  
11 events regardless of the patients symptoms. These  
12 objective exam findings will be used to inform dose  
13 modifications.

14 I'm going to now request. Dr. Colby to share  
15 her perspective on the approach we have taken to  
16 characterize corneal events across the program.

17 DR. COLBY: Thank you, Dr. Gupta.

18 This is Kathryn Colby. The question is  
19 regarding the management of keratopathy beyond the  
20 modification of Belamaf administration. A lot of  
21 this depended upon the specifics of an individual  
22 patient. For those patients who noted dry eye

1 symptoms, lubricating artificial tears were given  
2 with good success. Additionally, there are some  
3 other supportive measures including warm or cool  
4 compresses.

5 Then there were cases where ophthalmologists  
6 involved in the study used other management  
7 approaches, including things like bandage contact  
8 lenses and tears made from a patient's serum as a  
9 management. We have reviewed in the ocular no  
10 proven benefit to prophylactic administration of  
11 steroids, but for certain patients, especially if  
12 there's a component of inflammation, topical  
13 steroids were given as therapy. Thank you.

14 DR. HOFFMAN: Okay. Thank you.

15 Dr. Cristofanilli?

16 DR. CRISTOFANILLI: Hi. This is  
17 Dr. Cristofanilli. I have a question I think for  
18 Dr. Abdullah at GSK. This is regarding obviously  
19 the management. It seems like, as we just heard,  
20 that obviously this medication has side effects  
21 that we are not very commonly used to managing and  
22 to recognize as medical oncologists. This is going

1 to be a problem for community oncologists, but we  
2 do have data, obviously from your study, showing  
3 that dose modifications and dose delays seems to be  
4 effective.

5 But when you look at the data that was  
6 presented, a KVA grade 3 was recorded in 44 percent  
7 of the cases and a dose reduction was only  
8 23 percent of the cases. I think, first of all, I  
9 would like an explanation that maybe the oncologist  
10 and the treating physician were given the  
11 opportunity to manage according to their judgment,  
12 but in the community, particularly given this REMS  
13 program, we need to be absolutely sure that when  
14 there is a grade 3 recognized, the patient would  
15 hold the treatment until grade 1, and then will  
16 have a dose reduction.

17 DR. GUPTA: In accordance with what was done  
18 in the DREAMM-2 protocol, all patients who meet the  
19 criteria for dose modification, depending on the  
20 severity of their events, we asked to either those  
21 delay or dose reduce.

22 DR. CRISTOFANILLI: Well, the dose delay was

1 for everyone who had the grade 2 or grade 3, but  
2 the dose reduction was for grade 2, and we have  
3 only 23 percent for dose reduction. So some of the  
4 patients were not reduced according to protocol  
5 guidelines.

6 DR. GUPTA: In this study, patients did  
7 follow the dose modification guidelines that have  
8 been specified in the protocol. Some patients did  
9 not restart the treatment and that was because they  
10 discontinued due to disease progression. A  
11 majority of the patients did actually follow the  
12 guidelines and were able to comply with the dose  
13 modifications that were required.

14 I'm going to request Dr. Kathryn Colby to  
15 share her perspective on the utility of dose  
16 modifications for the management of these events.

17 DR. COLBY: Thank you, Dr. Gupta.

18 This is Kathryn Colby. We did see in this  
19 study that as Belamaf was held, that there was a  
20 gradual reduction in the keratopathy and a more  
21 rapid improvement in the visual acuity back towards  
22 baseline. So it's clear that as the medication is

1 held, that there is a natural resolution of the  
2 keratopathy. Thank you.

3 DR. HOFFMAN: Thank you. This is Philip  
4 Hoffman. I had a question to just clarify. The  
5 presenter said that the median was 3 cycles over  
6 9 weeks, and that's much less, of course -- if the  
7 duration of response was about 9 months, I'm  
8 confused. Why so few cycles or was that because of  
9 dose holds?

10 DR. GUPTA: Overall, the treatment was  
11 tolerated as is evidenced by the load  
12 discontinuation rate. A median of 3 cycles takes  
13 the overall patient population enrolled into the  
14 study in consideration, and it is likely driven by  
15 the quick progressors who discontinued treatment  
16 quickly. We have patients now who have been  
17 created for over a year as they continue to see  
18 meaningful responses. I would request Dr. Sagar  
19 Lonial to share his perspective.

20 DR. LONIAL: Thank you, Dr. Gupta.

21 This is Sagar Lonial. I think your question  
22 about the median number of cycles delivered really

1 speaks to the median PFS in a refractory myeloma  
2 patient population and whether you look at  
3 carfilzomib, daratumumab, selinexor, or Belamaf,  
4 all of them have response rates around 30 percent.

5 All of them have median PFS's of around  
6 3 months, which is driven predominantly by the  
7 responders. But it's the ability to keep patients  
8 on longer that leads to the DOR.

9 The 11-month DOR that I referenced is with  
10 13-month follow-up, and overall that is really  
11 being driven by the responders and is an  
12 amalgamation of both safety, meaning they can stay  
13 on, and efficacy, meaning we had enough patients to  
14 carry them forward for a longer period of time.

15 DR. HOFFMAN: Okay. Thank you.

16 Next is Dr. Feldman.

17 DR. FELDMAN: Thank you. This is Brad  
18 Feldman. My question is in regards to the  
19 keratopathy and visual. This is what we are using  
20 to determine dose modifications, and it's the way  
21 that we have been told in the REMS program the  
22 community, or ophthalmologists, or optometrists,

1 who may be following patients, are judging the  
2 patients in communicating to the oncologists.

3 My question is, has this scale been  
4 reassessed and are there plans for optimizing it?  
5 And I ask that question for two reasons. One is  
6 that it's clear that there's a big discrepancy  
7 between the grade level of the scale and  
8 quality-of-life changes or vision changes of the  
9 patients; and two, given what Dr. Colby described  
10 in her slide number 40, the way that patients  
11 develop visual changes appear to be from the  
12 keratopathy progressing peripheral cornea to the  
13 central cornea. And once the central cornea is  
14 involved, that's when patients develop visual  
15 acuity changes.

16 I'm not sure if we have data to back that  
17 up, but if we do, it would seem that central  
18 involvement of the keratopathy would probably be a  
19 better way to grade the keratopathy or to fuse  
20 words or terms that are used currently in the  
21 scale. This is similar to some other diseases that  
22 we manage in cornea such as diffuse or

1 neuro-keratopathy, which has a grading scale based  
2 on the involvement of peripheral and central cornea  
3 involvement, which is typically more likely to be  
4 clinically significant to the patients.

5 This is really critical in my mind because  
6 this is the scale that we're all going to be using  
7 to decide how the patient's doing and what needs to  
8 happen with their treatment, and this could have an  
9 effect on the efficacy of the treatment as well.

10 Thank you.

11 DR. GUPTA: The KVA scale is the  
12 protocol-defined scale that was used in the DREAMM-  
13 2 study and it focused both on keratopathy as well  
14 as changes in visual acuity. You are correct that  
15 keratopathy and changes in visual acuity do not  
16 always correlate and they don't always lead to  
17 patient's symptoms. Therefore, from an abundance  
18 of caution, we suggest that dose modification be  
19 based on either the severity of eye examination  
20 findings or severity of visual acuity change.

21 The key is going to be, from a patient's  
22 safety, to ensure that this and the dose

1 modifications are implemented. Some of your  
2 perspective that you just shared, we will  
3 definitely take that into consideration as we  
4 continue to generate data in our clinical  
5 development program.

6 DR. HOFFMAN: Thank you.

7 Mr. Mitchell?

8 MR. MITCHELL: Thank you, Dr. Hoffman.

9 I'm David Mitchell. I'm the consumer  
10 representative. I'm also a multiple myeloma  
11 patient, and I'm currently using a 4-drug  
12 combination to keep my disease in check:  
13 daratumumab, bortezomib, pomalidomide, and  
14 dexamethasone, so I have a dog in this fight.

15 I want to disclose that Kenneth Anderson is  
16 my physician, but I haven't discussed the issues  
17 for the day with him. I'll note before I ask my  
18 question to the FDA that the only option stated for  
19 this population was selinexor with dex, but I think  
20 autologous stem cell transplant could also be an  
21 option for patients who have delayed taking that  
22 and shouldn't be ignored.

1           My question is for the FDA. Given the risks  
2 involved, I want to know if approval can be  
3 conditioned on several factors: continuation and  
4 completion of the phase 3 trial; testing other  
5 keratopathy mitigation strategies; obviously a  
6 black box warning; and REMS with ETASU. And the  
7 ETASU should include the engagement of qualified  
8 ophthalmologic care with close integration with the  
9 oncologist.

10           I think there needs to be a requirement that  
11 the physicians explain the risk of vision loss and  
12 the management necessary to deal with it to the  
13 patient. The patient should understand it and sign  
14 a document at testing that it's been explained to  
15 him or her.

16           Perhaps most importantly, can it be  
17 conditioned on postmarket research coordinated and  
18 funded by the drug manufacturer to ensure both the  
19 benefits and risks presented in all the research  
20 are borne out in practice to the real-world  
21 population, especially among more typical older  
22 patients, and at the same time test the mitigation

1 strategies for the keratopathy.

2 The manufacturer should conduct the research  
3 so the costs are not shifted to patients and payers  
4 in order to demonstrate what we haven't seen  
5 demonstrated yet in the manufacturer or sponsored  
6 clinical trials. Patients should be informed that  
7 they're going to participate in that research and  
8 consent be given.

9 So I'm wondering if the FDA can respond to  
10 can the condition improve based on the things  
11 [inaudible - unmuted voice]? Thank you.

12 DR. HOFFMAN: I don't know if Dr. Baines is  
13 on the call.

14 DR. KANAPURU: Hi. This is Bindu Kanapuru.  
15 I'm going to ask Dr. Nicole Gormley to answer that  
16 question.

17 (Pause.)

18 DR. KANAPURU: It looks like she might be  
19 having some audio difficulty.

20 MR. MITCHELL: It was three questions, but  
21 if the FDA can give me some guidance on whether  
22 those kinds of qualifiers can be placed on approval

1 or not.

2 DR. KANAPURU: Hi. This is Dr. Kanapuru. I  
3 think Dr. Gormley is having some audio issues. I  
4 just wanted to state that we appreciate the  
5 question that you have posed. We want to state  
6 that we will consider the benefit-risk profile, and  
7 we have to understand that while there are other  
8 types of approvals, as you have mentioned, the drug  
9 when approved will be used for the indication that  
10 it's approved and for the patient population that  
11 it's approved.

12 While there is always a requirement if it is  
13 given conditional approval that you will need a  
14 post marketing study, we have to understand that  
15 the postmarketing study is not always required to  
16 be done in the same patient population as the drug  
17 that's currently approved.

18 So at this present time, we are asking the  
19 committee to decide on the available data that we  
20 have and for the patient population, and based on  
21 the data from the DREAMM-2 trial, if the drug is  
22 approved, based on this data and for the proposed

1       indication that the applicant is requesting, this  
2       will be used with the current mitigation strategies  
3       as well as the dose modification.

4               Our question and what we would like the  
5       committee to decide is to evaluate the current data  
6       from the DREAMM-2 trial and also the risk of ocular  
7       toxicities and the other uncertainties we have  
8       mentioned, and to determine if the ocular toxicity  
9       has been adequately categorized and the data  
10       currently that's available supports the  
11       benefit-risk profile of belantamab mafodotin in the  
12       proposed patient population.

13               MR. MITCHELL: I would just state that the  
14       benefits of the drug are clear, especially for this  
15       population. It's the risks we're concerned about.  
16       And if there's a way to move forward to give  
17       patients access to the benefits of the drug, with  
18       informed consent, while we continue to explore ways  
19       to mitigate the risks of the keratopathy and the  
20       vision loss, that would seem to be a better outcome  
21       than simply making a decision, yes or no, based  
22       on -- yes or no, we should just approve it with no

1 further requirements.

2 A postmarketing study would certainly be  
3 helpful, especially because the population is not  
4 necessarily typical in these two studies we're  
5 looking at, especially with regard to age. So it  
6 would be in the interest of patients if there could  
7 be an approval that was given that required those  
8 steps so as not to take away the choice for  
9 patients. And I'm asking can the FDA do that, yes  
10 or no?

11 DR. KANAPURU: Again, thank you again. As  
12 you have seen, we have given conditional approval  
13 that act to mitigate approvals in the past. But I  
14 think, again, our question right now, again, we  
15 would want the committee members to discuss,  
16 regardless of the type of approval, what we have  
17 with the DREAMM-2 trial and whether this supports  
18 the approval at this time.

19 DR. HOFFMAN: This is Philip Hoffman. But  
20 does not the REMS designation address that  
21 basically by involving the patient in that consent  
22 process, clearly?

1 MR. MITCHELL: Well, the REMS is a safety  
2 program. I'm talking about a postmarketing study  
3 that will dig more deeply if we approve the drug or  
4 recommend approval of the drug today. Do it on the  
5 condition of a manufacturer-sponsored postmarket  
6 study that tries to dig more deeply in the  
7 mitigation strategy of vision loss and to ensure  
8 that the drug works. It seems to in the two DREAMM  
9 studies, but for a real-world population that is  
10 going to be older and have other [inaudible - audio  
11 breaks], undoubtedly. So I'm wondering if a  
12 conditional approval is possible.

13 DR. HOFFMAN: Philip Hoffman here --

14 DR. GUPTA: Thank you. This is Ira --

15 DR. HOFFMAN: -- oh, please go ahead.

16 DR. GUPTA: Sorry. This is Ira Gupta from  
17 GSK. I did want to clarify that the application is  
18 being considered under an accelerated approval and  
19 would be supportive of a REMS as well as the  
20 educational material, including the Med Guide in  
21 the label. We can ensure that the patients are  
22 educated and can make an informed decision while

1 keeping the benefit-risk in mind.

2 As a part of the accelerated program, there  
3 will be additional studies that will be done,  
4 including the patient populations that were  
5 mentioned, so that we can continue generating data  
6 on the unique ocular events that have been seen  
7 with Belamaf.

8 DR. THANARAJASINGAM: Dr. Hoffman, this is  
9 Dr. Thanarajasingam. May I ask a question? I'm  
10 not sure if you can see my raised hand due to some  
11 technical difficulties.

12 DR. HOFFMAN: Yes, go ahead. We have a few  
13 other people as well, but actually --

14 DR. THANARAJASINGAM: No, you can get to me.  
15 I just wasn't sure if you could see me.

16 DR. HOFFMAN: No. I got your email, but I  
17 was being asked if I could do Dr. Sung next because  
18 I think he has to leave.

19 DR. THANARAJASINGAM: Yes, please, go  
20 ahead. Thank you.

21 MR. MITCHELL: And I want to say thank you,  
22 Dr. Hoffman.

1 DR. HOFFMAN: Okay.

2 DR. SUNG: Hi. This is Anthony Sung, and  
3 thank you for accommodating me. I had a question.  
4 Much has been made of the benefit of a 31 percent  
5 response rate, but I would point out, as many of  
6 the investigators probably know from the CARTITUDE  
7 study of CAR-T therapy also directed against BCMA,  
8 there was a 100 percent response rate, a 56 percent  
9 complete response rate, and 90 percent of subjects  
10 remained progression-free after 9 months.

11 Two questions I have, one for Dr. Anderson  
12 and other experts. How would you compare this  
13 therapy to something like CAR-T? Then my second  
14 question is because these therapies are directed  
15 against BCMA, on subjects who are on this drug,  
16 what happens to BCMA expression and will they still  
17 be eligible for CAR-T or other therapy afterwards?

18 DR. GUPTA: Thank you for the question.  
19 Could I request Dr. Sagar Lonial to share his  
20 perspective on how the data with Belamaf compares  
21 with CAR-T?

22 DR. LONIAL: Thank you, Dr. Gupta.

1           This is Sagar Lonial. There are very  
2 different types of treatment and they're likely  
3 very different types of patients that may first go  
4 to a CAR-T cell or may first go to an antibody drug  
5 conjugate such as Belamaf. I think that certainly  
6 the overall response rates we've seen with CAR-T  
7 cells have been impressive. You've mentioned the  
8 CARTITUDE trial. There are other trials, including  
9 KarMMa or other ones that are out there that show  
10 equally high response rates.

11           The durability of those responses is still a  
12 question, and I think we need longer follow-up.  
13 But not every patient is going to be eligible or  
14 have the ability to wait the period of time that's  
15 needed for a CAR-T cell, and physically every  
16 patient may not be able to go through that process  
17 as well.

18           I think Belamaf to me represents a really  
19 important option, another way to target BCMA. What  
20 we know about patients that progress on  
21 BCMA-directed therapy is that they do not appear to  
22 lose expression of BCMA, which is different from

1 what we've seen with CD19 for instance in CAR-T  
2 cells.

3 So while many trials have limited the  
4 ability of patients who have seen BCMA-directed  
5 therapy to receive another agent on clinical trial,  
6 I suspect you will have the ability to re-respond,  
7 and I would anticipate that my patients will likely  
8 get multiple drugs that may target BCMA with  
9 different mechanisms, whether they be CAR-T cells,  
10 antibody drug conjugates, or bispecifics, or  
11 bio-based therapies.

12 I know you actually specifically mentioned  
13 Dr. Anderson, so I'm going to stop there and let  
14 him weigh in as well.

15 DR. ANDERSON: Thank you very much, Sagar.  
16 I do agree with what you've said. I do think one  
17 of the major advantages here is it's an  
18 off-the-shelf medication, so comparing it to  
19 something like CAR-T cells of which we're also  
20 excited, it's much more practical, and readily  
21 available, and likely to be able to be used more  
22 widely.

1 I do think if there is a concern with BCMA  
2 expression varying over time, we know now from  
3 clinical ongoing protocols with gamma secretase,  
4 for example, that we can upregulate BCMA should  
5 that be necessary in the future. But I think,  
6 excitingly, as you've heard from the myeloma  
7 treatment paradigm, patients now are utilizing the  
8 image, the proteasome inhibitors, and the CD38  
9 antibodies earlier in their treatment course, so  
10 this would be the first BCMA-directed therapy, we  
11 hope of a series. But this is a new target and a  
12 novel mechanism, and herein lies the excitement.

13 DR. HOFFMAN: Okay. Thank you. We have a  
14 question from Dr. Uldrick, and then we'll go to  
15 Dr. Thanarajasingam's question.

16 Dr. Uldrick?

17 (No response.)

18 DR. HOFFMAN: I'm not sure -- Dr. Uldrick,  
19 are you still on the call?

20 (No response.)

21 DR. HOFFMAN: Okay. Dr. Thanarajasingam,  
22 would you like to ask your questions?

1 DR. THANARAJASINGAM: Yes, thank you.

2 DR. ULDRICK: Dr. --

3 DR. THANARAJASINGAM: Oh, go ahead. Is that  
4 you, Dr. Uldrick?

5 DR. ULDRICK: I apologize. I'm having  
6 technical difficulties. Can you hear me now?

7 DR. THANARAJASINGAM: Yes.

8 DR. HOFFMAN: Yes.

9 DR. ULDRICK: Sorry. Thank you,  
10 Dr. Hoffman.

11 I have two questions related to risk  
12 mitigation. For the first question, I was  
13 wondering if the sponsor could speak a little bit  
14 about the guidelines for dose reduction and also  
15 address whether or not the 40 percent of  
16 participants who had recurrent keratopathy, whether  
17 those cases were dose dependent.

18 DR. GUPTA: This is a slide that summarizes  
19 the dose modification guidelines prespecified in  
20 the DREAMM-2 study. Depending upon the location  
21 and the intensity of the keratopathy as well as  
22 changes in the corrected visual acuity, patients

1 were allowed to either continue at current dose for  
2 grade 1, delay treatment and then resume for  
3 grade 2 patients, or leave treatment and then  
4 resume at a lower dose for grade 3 patients.

5 All patients that developed a grade 4  
6 corneal event would be asked to communicate  
7 discontinued treatment. These guidelines have been  
8 developed in consultation and interactions with the  
9 FDA to ensure that patients' eye examination  
10 findings and changes in visual acuity, and not  
11 patient-reported symptoms, drive the decision for  
12 treatment with Belamaf.

13 DR. ULDRICK: Thank you.

14 DR. GUPTA: I think your question --

15 DR. HOFFMAN: Yes, go ahead.

16 DR. GUPTA: Those patients who developed a  
17 grade 3 or 4 event, they were allowed to restart  
18 treatment with a reduced dose once the event has  
19 resolved to a grade 1 or better, always keeping the  
20 patient's benefit-risk in mind.

21 DR. HOFFMAN: Okay. Dr. Thanarajasingam?

22 DR. ULDRICK: I'm sorry. Can I ask one more

1 question, please?

2 DR. HOFFMAN: Oh, I'm sorry.

3 DR. ULDRICK: Sorry, Dr. Hoffman. Thank  
4 you.

5 This is a question related to the sponsor's  
6 slide 54 presented by Dr. Colby about the  
7 NEI-VFQ25. In particular, there was a quite high  
8 rate of impact on driving and reading, and I was  
9 curious as to the timing to these moderate outcomes  
10 and whether this could be further mitigated with  
11 the proposed strategy.

12 DR. GUPTA: Slide 54 presented the worst  
13 baseline changes in driving and reading as assessed  
14 by the NEI-VFQ25 for patients who had reported  
15 little or no difficulty at baseline. I'm going to  
16 request Dr. Gorsh to share further perspective on  
17 this detail.

18 DR. GORSH: Hi. This is Boris Gorsh from  
19 GSK. I will just comment on the timing of the  
20 events. I can provide you information on timing of  
21 [indiscernible - audio breaks] -- mean time to  
22 onset of the first occurrence, there was about 63

1 days, and in terms of the reading, it was 85 days.

2 DR. ULDRICK: Thank you so much.

3 DR. HOFFMAN: [Inaudible - audio breaks.]

4 DR. THANARAJASINGAM: Thanks. Yes, I have  
5 two questions based on [indiscernible - audio  
6 breaks] and anticipate your answer. I think the  
7 first one is probably best answered by  
8 Dr. Abdullah, and it's come up numerous times that  
9 myeloma patients in this study were younger than  
10 most with relapsed/refractory myeloma and,  
11 additionally, normal renal function was required.

12 Do we have any evidence that the ocular  
13 toxicities that were seen might be worse in older  
14 patients or in those with renal insufficiency? I  
15 think this has a lot of ramifications of use on the  
16 drug in the real world.

17 So that is the first question, and the  
18 second question goes out to the GSK team in  
19 general. Tolerability is really the key issue  
20 here. In addition to that being informed by  
21 clinician reports and dose modifications and  
22 treatment discontinuations, it's substantially

1 informed by the patient-reported outcomes that  
2 evaluate the functional impact of vision change and  
3 quality of life.

4 You all used the ocular-specific indices,  
5 the PRO-CTCAE and the EORTC QLQ-C30. I'm not sure  
6 existing PRO data is expected due to attrition on  
7 the study or, by design, due to conditional  
8 branching in the survey administration of PRO-CTC,  
9 for example. However, there was some suboptimal  
10 completion in eligible patients.

11 For patients who reported visual changes  
12 that were still eligible to complete the PROs but  
13 did not, do we know why? For example, it would be  
14 helpful to know if there were patients who declined  
15 to complete the questionnaires because they had  
16 that 2050 blurriness in their eye that was nicely  
17 demonstrated and they couldn't see the questions  
18 well enough to answer them, either electronically  
19 or on paper, however you did it.

20 Those are my two questions. Thank you.

21 DR. GUPTA: Thank you for your questions.  
22 I'll begin by answering the first one around the

1 safety in the older patient population, including  
2 the incidence of keratopathy, as well as in those  
3 who might have renal functions, which is a poor  
4 prognostic factor for relapsed/refractory multiple  
5 myeloma.

6 For Belamaf, in the older patients, it's  
7 similar to the overall patient population. We've  
8 enrolled a total of 40 patients across both the  
9 monotherapy DREAMM-1 and DREAMM-2 study that are  
10 above the age of 65. When we look at specifically  
11 the subgroups for key safety measures, including  
12 keratopathy, there is no trend in either direction.

13 When you look, as the age group gets older,  
14 the overall incidence of keratopathy is 54 percent  
15 in [inaudible - audio echo.]

16 DR. HOFFMAN: We're losing you, Dr. Gupta.

17 DR. GUPTA: Yes, I'm sorry. I'm hearing an  
18 echo. Thank you.

19 The overall incidence of keratopathy is  
20 54 percent in the 75 or older subgroup of patients.  
21 We have also not seen any differences in the  
22 incidence of keratopathy for patients who enrolled

1 in the study with renal impairment, and at this  
2 time no dose adjustment is required for patients  
3 with mild or moderate renal impairment that has  
4 been seen in the study.

5 I'm going to request Dr. Gorsh to answer the  
6 question around the PRO data.

7 DR. GORSH: This is Boris Gorsh from GSK.  
8 I'll answer the question around any changes or any  
9 differences in visual acuity or keratopathy among  
10 patients that completed versus those that did not  
11 complete the patient-reported outcomes measured.  
12 We had a very similar question. We assessed this,  
13 and we did not find any meaningful differences  
14 between patients that had visual acuity changes,  
15 neither by grade nor keratopathy between patients  
16 that had completed versus those that did not  
17 complete the patient-reported outcomes  
18 questionnaire.

19 DR. THANARAJASINGAM: Thank you. Was the  
20 reason for missingness of data recorded?

21 DR. GORSH: That was not recorded as part of  
22 this study.

1 DR. THANARAJASINGAM: Okay. Thank you.

2 DR. HOFFMAN: Okay. I think we'll go to  
3 lunch now, and if there are any additional  
4 important questions for the clarifying questions,  
5 we'll complete them after lunch before we go into  
6 the open public hearing part of the meeting. So  
7 let's try, in the interest of time, to regroup at  
8 2:08. Enjoy your break.

9 (Whereupon, at 1:39 p.m., a lunch recess was  
10 taken.)

11

12

13

14

15

16

17

18

19

20

21

22

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

A F T E R N O O N S E S S I O N

(2:19 p.m.)

**Clarifying Questions to Presenters (con't)**

DR. HOFFMAN: Good afternoon, everyone. This is Philip Hoffman. We're going to reconvene the meeting. Let's start with a couple of remaining clarifying questions. I see two hands up, Dr. Klepin and Dr. Feldman.

Dr. Klepin, do you want to proceed?

DR. KLEPIN: Yes, thank you. This is Heidi Klepin. I have two questions for the sponsor. I'll go ahead and ask both of my questions and then just await the response.

The first gets back to the question around any potential risk factors for developing visual impairment specifically that could be gleaned from the data that you have. So we've talked a bit about whether or not age is associated with increased toxicity or renal dysfunction but we haven't heard more broadly if there were any other risk factors, other than duration on treatment, and specifically comorbid conditions, things like

1 diabetes or other ocular conditions that are more  
2 common in the older adults.

3 So that's one question, and any information  
4 I think that could be gleaned from these data would  
5 be helpful in the informed consent process, and I'm  
6 specifically interested in clinically significant  
7 visual changes, so not just the keratopathy itself.

8 The second question relates to the low  
9 response rate that was seen in the patients who are  
10 75 and older with the dose in question. As I  
11 recall, I think there were only 8 patients, so this  
12 is a very small sample of older adults, so  
13 obviously the response rate may simply be due to  
14 chance, but it would be helpful to have a better  
15 understanding.

16 It's certainly notable that it was quite  
17 low, so is this possibly related to toxicity?  
18 These patients didn't stay on therapy long enough  
19 to see a benefit? Was there something different  
20 about their myeloma that could be gleaned from the  
21 data, or are there any data that might suggest that  
22 there might be an aging-related biological reason

1 that response rates could be lower in that older  
2 adult population?

3 So those are my two questions. Thank you.

4 DR. GUPTA: Thank you. This is Ira Gupta  
5 from GSK. To answer the first question around risk  
6 factors that might be associated with clinically  
7 significant changes in visual acuity, we've done  
8 multiple covariate analysis to see if there was any  
9 association looking at baseline disease  
10 characteristics, demographics, including prior  
11 medical history, history of intraocular surgery,  
12 cataracts, et cetera, and have not been able to  
13 identify any association between the baseline  
14 disease characteristic.

15 The one significant factor that was seen in  
16 a logistic regression analysis was the history of  
17 dry eye. Patients who have a history of dry eyes  
18 tend to have more superior keratopathy in eye  
19 examination finding.

20 Moving on to the second question around the  
21 efficacy that has been seen in the older patient  
22 population, there were a total of 13 patients that

1 have been enrolled in the 2.5 milligram per  
2 kilogram dose, and as you rightly mentioned, one  
3 patient of those had a response rate.

4 We cannot identify any reason why the others  
5 have not responded. We do see similar responses in  
6 the 3.4 milligram in the same patient population,  
7 so this is likely more a reflection of the smaller  
8 sample size, and we continue to generate more data  
9 on the efficacy and safety in the older patient  
10 population and establish the benefit-risk with  
11 Belamaf for this group of patients.

12 DR. KLEPIN: Okay. Thank you.

13 DR. HOFFMAN: Dr. Feldman?

14 DR. FELDMAN: Thank you. I have two  
15 questions as well. Assuming that we've adequately  
16 characterized the toxicity, ocular toxicity, with  
17 Belamaf, one practical question that comes up is  
18 the access to appropriate eye care providers for  
19 the patients who will be receiving this drug. I  
20 appreciate what Dr. Abdullah laid out in the REMS  
21 presentation that he gave, but my first question  
22 is, is there any precedent for oncologists

1 collaborating to this degree with a specialty like  
2 ophthalmology that is primarily outpatient based?

3 In ophthalmology, over 85 percent of  
4 ophthalmologists are not working in hospitals or in  
5 academic centers, so is there any precedent for  
6 that? Do we anticipate any trouble finding  
7 ophthalmologists? And as a corollary to that, in  
8 this slide deck presented by GSK, there was a  
9 mention of ophthalmology but are we including  
10 optometry as well?

11 Then the second question is, in the  
12 study -- and this was kind of falling over from the  
13 last question -- patients were allowed to enroll if  
14 they had mild dry eye symptoms, and mild I think  
15 they said SPK or punctate epithelial erosion. Is  
16 the thought to exclude anyone from receiving this  
17 drug if they have more severe pre-existing corneal  
18 condition? Thank you.

19 DR. GUPTA: Thank you for your questions.  
20 The treating oncologist as well as the  
21 [indiscernible] are used to taking a multispecialty  
22 approach for managing their patients, especially if

1 there have been any pretreated relapsed/refractory  
2 multiple myeloma patient populations. Therefore,  
3 there should be a collaboration already established  
4 between the eye care professionals as well as the  
5 multiple myeloma expert.

6 As for the REMS, as was presented by  
7 Dr. Colby, eye care professionals, including  
8 optometrists and ophthalmologists, are qualified to  
9 perform the examinations that would be required for  
10 identification of the keratopathy as well as the  
11 changes in best-corrected visual equity.  
12 Therefore, we feel quite confident with the REMS  
13 program and GSK ensuring that we facilitate and  
14 manage and help with these eye examination  
15 appointments, et cetera, and that this would be  
16 feasible in the real-world setting.

17 To answer your second question around  
18 patients with mild superficial contact  
19 [indiscernible] keratopathy -- do we have Dr. Jeng  
20 on the call? Maybe he can add his perspective.

21 DR. JENG: Yes, this is Bennie Jeng. Thank  
22 you, Dr. Gupta.

1           In my practice, we've seen a lot of these  
2 patients, and as Dr. Gupta's alluded to, the  
3 analysis has shown that patients who start out with  
4 dry eyes may end up with more keratopathy. I've  
5 seen that as well, but I haven't noticed any  
6 significant response rate in terms of whether they  
7 want to stop the drugs, for example. I do not see  
8 a difference in how they do. Thank you.

9           DR. FELDMAN: Can I make a follow-up  
10 question here?

11           The follow-up question would be when we have  
12 these multispecialty monitoring of patients who are  
13 on oncology drugs, are there any access issues for  
14 patients in terms of the cost of the visits or for  
15 providers and being able to bill these visits as  
16 something that would be a pretty high frequency,  
17 more than what we're used to in monitoring  
18 medicines in ophthalmology typically?

19           Also, is there a thought to, again, changing  
20 the KVA grading scale to better reflect primarily  
21 the clinically significant components of it so we  
22 can potentially decrease the number of unnecessary

1 visits for patients and decrease the dose  
2 modification? I know, especially in the current  
3 environment, we're trying to decrease the number of  
4 visits patients have to outpatient facilities.

5 Thank you.

6 DR. GUPTA: Thank you, Dr. Feldman. We will  
7 definitely take your feedback in terms of  
8 optimizing the KVA scale and its use in the  
9 real-world settings as we continue to understand  
10 these better in our clinical development program.

11 I'm going to request Dr. Pete Voorhees to  
12 answer your question around a multi-specialty  
13 approach for treating patients and some of the  
14 issues they might face with these visits.

15 DR. VOORHEES: Sure. This is Peter Voorhees  
16 from Levine Cancer Institute. We certainly are  
17 used to dealing with oncology drug-related toxicity  
18 in a multidisciplinary fashion, but your point is  
19 well taken. We know that the frequency of  
20 co-management with the ophthalmologists in this  
21 particular situation is higher than a typical  
22 drug-related side effect. At least in the DREAMM-2

1 study, adherence to the ophthalmology visits was  
2 very high. It was in the high 90 percent range.

3 At Levine Cancer Institute, we actually  
4 partnered with a small private ophthalmology  
5 practice, and once the patients were in, it was  
6 actually very easy to manage these patients with  
7 that particular group. We had access to each  
8 other's numbers. We had access to each other's  
9 emails. The forms that they're required to fill  
10 out are very easy to interpret from the oncology  
11 side of things as far as whether you need to hold  
12 the dose or not.

13 In those situations, what the patient may be  
14 experiencing symptomatically doesn't necessarily  
15 gel with what we're seeing on the eye exam, and  
16 that's the situation where we can talk with the  
17 ophthalmologist to better understand what direction  
18 the keratopathy is headed and what we should do  
19 with the drug in that situation. So I think it's  
20 definitely manageable not only in an academic  
21 setting but also in a community-based ophthalmology  
22 practice setting as well.

1 DR. HOFFMAN: Okay. Thank you.

2 The last comment, Dr. Chambers?

3 DR. CHAMBERS: This is Wiley Chambers. I  
4 just wanted to go back and make a couple of  
5 comments about the topic that Dr. Feldman had  
6 brought up. The scale and the dose reductions that  
7 were done for the DREAMM-2 were obviously done  
8 before that trial was started.

9 I don't think there is an expectation that  
10 we couldn't do a better job now with the  
11 information that we know, but what you're presented  
12 with is the data that we had based on a best guess  
13 of a scale and a dose modification that was done  
14 prior to DREAMM-2 being run.

15 There is a clear delay or an extended period  
16 of time from when the drug is on board to the  
17 corneal changes that occur, and the hope at the  
18 beginning of DREAMM-2 was that there would be a  
19 treatment with the steroids that would help  
20 mitigate the corneal findings. That didn't turn  
21 out to be true, but we didn't know that until the  
22 trial was run. Thank you.

1 **Open Public Hearing**

2 DR. HOFFMAN: Okay. Thank you.

3 We're now going to move to the open public  
4 forum section.

5 Both the Food and Drug Administration and  
6 the public believe in a transparent process for  
7 information gathering and decision making. To  
8 ensure such transparency at the open public hearing  
9 session of the advisory committee meeting, FDA  
10 believes that it is important to understand the  
11 context of an individual's presentation.

12 For this reason, FDA encourages you, the  
13 open public hearing speaker, at the beginning of  
14 your written or oral statement to advise the  
15 committee of any financial relationship that you  
16 may have with the sponsor, its product, and if  
17 known, its direct competitors. For example, this  
18 financial information may include the sponsor's  
19 payment of your travel, lodging, or other expenses  
20 in connection with your participation in this  
21 meeting.

22 Likewise, FDA encourages you at the

1 beginning of your statement to advise the committee  
2 if you do not have any such financial  
3 relationships. If you choose not to address this  
4 issue of financial relationships at the beginning  
5 of your statement, it will not preclude you from  
6 speaking.

7 The FDA and this committee place great  
8 importance in the open public hearing process. The  
9 insights and comments provided can help the agency  
10 and this committee in their consideration of the  
11 issues before them. That said, in many instances  
12 and for many topics, there will be a variety of  
13 opinions.

14 One of our goals today is for this open  
15 public hearing to be conducted in a fair and open  
16 way, where every participant is listened to  
17 carefully and treated with dignity, courtesy, and  
18 respect, therefore, please speak only when  
19 recognized by the chairperson. Thank you for your  
20 cooperation.

21 Speaker number 1, your audio is now  
22 connected. Will speaker number 1 begin and

1 introduce yourself? Please state your name and any  
2 organization you're representing for the record.

3 MS. AHLSTROM: Yes. Hi. My name is Jenny  
4 Ahlstrom, and I am the founder of the Myeloma  
5 Crowd. I'm also a multiple myeloma patient who was  
6 diagnosed in 2010, and I believe you have a video  
7 presentation for me. And I have not received  
8 anything from GSK to participate in today's  
9 meeting.

10 (Video played.)

11 "My name's Jenny Ahlstrom, and I'm a myeloma  
12 patient diagnosed in 2010. [inaudible - audio  
13 breaks] --"

14 (Video stopped.)

15 MS. AHLSTROM: -- so I created a patient  
16 advocacy organization called -- [inaudible - audio  
17 breaks] -- many times I feel like I have to  
18 constantly -- so far I've had a good outcome with  
19 my treatment, but I talk to thousands of myeloma  
20 patients every year who are my friends, and the  
21 hardest conversations I have are those who go  
22 through standard-of-care therapies in a short

1 period of time.

2 I had a friend, Michael, like that, and in  
3 less than 5 years, he had Revlimid, Velcade, dex,  
4 Pomalyst, Kyprolis, transplants, thera-elo [ph],  
5 Cytoxan, cisplatin, and selinexor, and he was not  
6 well enough to join a clinical trial though he  
7 wanted to try. So what does someone like that do?

8 I've spoken to friends who were on effective  
9 standard-of-care therapies, but because of the side  
10 effect profile, they had to stop. In some cases  
11 that eliminated the whole class of drugs for them,  
12 further reducing our options. So what do they do?

13 What do you do as a patient if you're out of  
14 standard-of-care treatments and you can't join a  
15 clinical trial? You hope for new options and  
16 combinations, which is why I hope you will approve  
17 belantamab mafodotin. Myeloma patients need more  
18 options, especially those with new approaches and  
19 targets.

20 Now, when it comes to safety and side  
21 effects, I've heard Dr. Robert Kyle of the Mayo  
22 Clinic say that there is no drug on the market

1 today, any drug, that doesn't have a side effect.  
2 Now, we've gotten used to dealing with certain side  
3 effects in myeloma, like neuropathy with Velcade,  
4 or feeling really OCD on dex, or having GI issues  
5 or rash on Revlimid. Sometimes they can be very  
6 severe.

7 Patients stop treatment today because  
8 they're on these therapies that become so bad.  
9 Patients hate side effects but they really hate  
10 dying of myeloma and running out of options even  
11 more. So as a patient, I make a benefit decision  
12 every day about which side effects I can tolerate  
13 and which I can't, and I do that with the help of  
14 my doctor who lays out my options and helps guide  
15 me, choosing the right combination, and I  
16 ultimately choose what I can tolerate.

17 Although there might be a learning curve  
18 with the ocular side effects for physicians and  
19 patients with Belamaf, eventually we will all gain  
20 experience. It was hopeful to see that in the  
21 DREAMM-2 study a few patients stopped therapy  
22 because of eye issues and they were able to modify

1 or hold the dose, or even patients who did that  
2 deepened their response during that time.

3 When you're managing a cancer diagnosis, in  
4 many ways you feel like your freedoms have been  
5 taken away, and this is an easy way to let patients  
6 decide their own future. In addition, there are  
7 many therapies targeting BCMA, and they're coming  
8 in myeloma, each with their own technologies and  
9 impact. Which of them will best work for me or my  
10 myeloma friends? We just don't know yet.

11 If all myeloma were the same, we could bring  
12 them all to market quickly to quickly determine  
13 which one was superior, but that's not likely to  
14 happen in myeloma any time soon because while  
15 myeloma is different, there are unique genetic  
16 subsets, and those change over time. So we don't  
17 get to that level of insight without approved  
18 therapies that can be used in all patient types,  
19 standard, high risk, or otherwise, and we should  
20 let the physicians and patients discover that  
21 broader use outside of a clinical trial.

22 I think it's fascinating that selinexor went

1 through a similar [indiscernible] by Drs. Keith  
2 Stewart and Leif Bergsagel. We now know that  
3 selinexor has great impact for patients that later  
4 relapse and those who have more aggressive myeloma  
5 like patients before 14 translocation or deletion  
6 17p, which we didn't know during the approval  
7 process. So I believe we don't get to cure myeloma  
8 unless we have a broad set of options that can be  
9 used in studies.

10 In the summer of 2018, my family canvassed  
11 the United States, and that was over 850 myeloma  
12 patients, remote patients of all ages, races, and  
13 in all areas of the country like rural Nebraska and  
14 New York City. And it wasn't until we met them  
15 face to face that we understood the true challenges  
16 of myeloma care. We need more options that can be  
17 administered everywhere.

18 CAR-T will likely not be that option because  
19 of just the way that it's administered. This is  
20 one such off-the-shelf choice that doesn't require  
21 you to be in the clinic for long periods of time,  
22 and it's given every 3 weeks, so it's a good choice

1 for patients regardless of their proximity to an  
2 academic center, which I think is an important  
3 point.

4 So for the sake of myeloma patients  
5 everywhere, I hope you'll decide to approve this  
6 first-in-class antibody drug conjugate, belantamab  
7 mafodotin for multiple myeloma patients. Thank  
8 you.

9 DR. HOFFMAN: Okay. Thank you.

10 Speaker number 2, your audio is connected  
11 now. Will speaker number 2 begin and introduce  
12 yourself? Please state your name and any  
13 organization that you're representing for the  
14 record.

15 MR. GREKLEK: Hello. My name is Chris  
16 Greklek. I'm not representing any organization,  
17 just myself today. I'm a multiple myeloma patient  
18 diagnosed in January of '08, and I have not  
19 received any compensation from GSK regarding this  
20 meeting. I did send in an audio pre-recording with  
21 some slides. I don't know if that is able to be  
22 brought up.

1           Perhaps you --

2           DR. WAPLES: Yes. Hi. This is Yvette, the  
3 DFO. The videos will not be shown. Everyone will  
4 need to present live for the OPH session. Thank  
5 you.

6           MR. GREKLEK: Alright. I can read that,  
7 sir. Please go ahead. Thank you.

8           Hello, members of the committee. Thank you  
9 for the opportunity to speak to you about my cancer  
10 journey and experience with belantamab mafodotin.  
11 My name is Chris Greklek, and I'm a multiple  
12 myeloma survivor. I was diagnosed in January of  
13 2008 when I was 39 years old, the father of three  
14 young children, an Ironman triathlete, and having a  
15 very successful work career.

16           All of that changed overnight. My disease  
17 had progressed so far, my local oncologist gave me  
18 less than a 5 percent chance to make it out of the  
19 hospital that first weekend. It was the worst and  
20 most advanced case of multiple myeloma he had ever  
21 seen in his experience. But I did make it out of  
22 the hospital in that first faithful weekend, only

1 after having 9 liters of plasmapheresis and having  
2 a steeled resolve to live so my children would be  
3 able to grow up with a father. I was determined  
4 that I was going to walk both of my daughters down  
5 the aisles someday.

6 I then started on thalidomide and  
7 dexamethasone, while later that year adding  
8 Velcade. This prepared me for my autologous  
9 stem-cell transplant, which put me into a complete  
10 remission for 6 months. I then relapsed into a  
11 very good partial remission but had to go back on  
12 thalidomide and dex maintenance therapy for the  
13 next 2 years. After breaking through again, I  
14 began treatment with the then new therapy Revlimid  
15 and dex. This kept me in a very good partial  
16 remission again for 4 years.

17 All these therapies worked very well for me,  
18 but also came at a price. There were many side  
19 effects with every one of them, some more severe  
20 than others and some that still affect me to this  
21 day. After another relapse and then failure with  
22 pomalidomide, something more seriously needed to be

1 done.

2 I came to Dana-Farber in 2014. I started in  
3 the trial with melflufen. While efficacious and  
4 giving me a minimal response, it had come with some  
5 challenges and side effects as well. That needed  
6 to be discontinued. During most of the first  
7 6 years of my disease, I was also treated with  
8 Zometa. This resulted in severe osteonecrosis of  
9 the jaw. I've had numerous smaller surgeries plus  
10 3 major surgeries to rebuild my jaw.

11 I've endured so many physical side effects  
12 from so many efficacious therapies that they are  
13 too long to list. However, I'm grateful for all of  
14 them because they have given me life and have  
15 helped me to conquer this disease over and over  
16 again.

17 This all brings me to May of 2016 when I  
18 started in the DREAMM-1 trial with belantamab  
19 mafodotin. Being a phase 1 trial, the correct  
20 human dose needed to be determined and the correct  
21 human dose was found by treatment 3. Having  
22 experienced excellent results right from dose 1, I

1 was prepared to have to endure several more side  
2 effects again, especially as quickly as the  
3 medication was working; however, no substantial  
4 side effects ever came. In fact, the only physical  
5 side effect I experienced through all of my  
6 16 doses was some minor ocular changes, which I  
7 usually addressed with a slightly stronger glasses  
8 prescription. In addition, my vision corrected  
9 itself back to baseline within 30 days of ending  
10 the trial.

11 I ended the trial in June of 2017 with an  
12 excellent partial remission and have needed no  
13 maintenance therapy of any kind. I've been in  
14 excellent health ever since. Belantamab mafodotin  
15 treatment was extremely tolerable, has been very  
16 durable, and most importantly sustainable.

17 The care and treatment I received at  
18 Dana-Farber under the care of Paul Richardson,  
19 Kathy Colson, and the entire team has been second  
20 to none. It has been and continues to be very  
21 comprehensive cancer care with knowledgeable  
22 professionals and excellent in every way.

1 I'm a single dad with three older children  
2 now. My son is 23; my daughters are 20 and 18.  
3 They have seen me through the last 12-plus years of  
4 this disease and the last 4 thanks to belantamab  
5 mafodotin.

6 Here is where I would be showing several  
7 pictures of the last 3 to 4 years, where we've been  
8 able to experience beautiful milestones together  
9 and create lasting memories. And the most recent  
10 was just last month with my youngest daughter's  
11 graduation from high school, and I'll be able to  
12 drive her to college in the fall where she'll be  
13 going to Siena, my alma mater.

14 I was determined to stay here for all of my  
15 children thanks to belantamab mafodotin, and I will  
16 walk both of my girls down the aisle one day.  
17 Thank you all for listening, and thank you for your  
18 consideration.

19 DR. HOFFMAN: Thank you.

20 Speaker number 3, your audio is connected  
21 now. Will speaker number 3 begin and introduce  
22 yourself? Please state your name and any

1 organization you're representing for the record.

2 MS. DEROME: Hello? This is Mary Derome. I  
3 am director of Medical Communications and Education  
4 at the Multiple Myeloma Research Foundation. Today  
5 I'll be making MMRF's statement on behalf of Anne  
6 Quinn Young. I have no financial disclosures.

7 "Good afternoon. I am Anne Quinn Young,  
8 chief marketing and development officer of the  
9 Multiple Myeloma Research Foundation, where I have  
10 worked for nearly 18 years. The MMRF is a national  
11 501(c)(3) nonprofit organization, and our mission  
12 is to accelerate the development of new more  
13 effective treatments and a cure for every patient.  
14 We have raised nearly \$500 million dollars in  
15 support of this mission over the past 20 years.

16 "I'm here today to speak on behalf of the  
17 hundreds of thousands of patients, family members,  
18 and friends that the MMRF represents. Most  
19 everyone at this meeting is aware of the remarkable  
20 progress this community has seen since myeloma  
21 patient Kathy Giusti and her twin sister founded  
22 the MMRF in 1998. The gains in new treatment

1 options and survival are truly stunning and nearly  
2 unprecedented in any other cancer. This is the  
3 result of an extraordinarily committed and  
4 collaborative community working tirelessly  
5 together.

6 "The MMRF not only works with the community  
7 to accelerate drug discovery and development but  
8 also to provide the education required for every  
9 patient to maximize his or her outcome On any given  
10 treatment. This means we place a significant focus  
11 on helping them and their clinicians learn to  
12 manage potential and actual side effects to enjoy  
13 the full benefits of each and every treatment.

14 "Despite decades of progress, the 5-year  
15 survival rate for multiple myeloma is only  
16 53.9 percent. Myeloma is a disease of remission  
17 and relapse with some patients cycling rapidly  
18 through many lines of therapy until their treatment  
19 options are exhausted. Clinical trial after  
20 clinical trial has shown that response rates,  
21 duration of response, and progression-free survival  
22 decrease with every line of therapy.

1           "Furthermore, myeloma not only differs from  
2 patient to patient but within patient. As we have  
3 seen from our own CoMMpass study data, there was a  
4 median of 5 different myeloma clones within a  
5 single patient. The result is that the hardiest  
6 clones survived many treatments, leading to an  
7 increased incidence of high-risk features the more  
8 lines of therapy a patient receives.

9           "Nearly half of the patients in the DREAMM-2  
10 trial had high-risk features, and they had seen a  
11 median of at least 6 prior therapies. I cannot  
12 emphasize enough that these patients have very few  
13 options available. Belantamab mafodotin, or  
14 Belamaf, can make a difference for these patients  
15 who are particularly hard to treat. The most  
16 important advance for patients in later stages of  
17 their disease is an off-the-shelf novel therapy  
18 that can offer significant survival benefit with  
19 manageable toxicities, and that is Belamaf.

20           "The incidence and severity of the  
21 keratopathy observed with Belamaf was not  
22 insignificant. In our view, the company has

1 indicated a strong commitment to mitigate and  
2 manage toxicities through dose reductions,  
3 supportive care, and physician and patient  
4 education, which will allow patients to take full  
5 advantage of the potential benefit of this agent.  
6 The MMRF is also happy to partner with the company  
7 on these important educational endeavors.

8 "Every day we speak to patients who have run  
9 out of commercially available treatments for  
10 multiple myeloma, and in too many cases, they are  
11 not eligible for clinical trials due to low counts  
12 or concomitant cancers. It's heartbreaking and  
13 underscores the need for additional options that  
14 have proven efficacy in this heavily pretreated  
15 population.

16 "It is important to appreciate that patients  
17 in this situation have much higher risk tolerance  
18 of side effects than less heavily pretreated  
19 patients, and we strongly believe that they deserve  
20 that choice. If there is an option that could  
21 potentially extend their lives, let them and their  
22 care team determine if the risk-benefit ratio is

1 acceptable, especially if the side effects could be  
2 managed with appropriate supportive care.

3 "It is our hope that the committee will  
4 appreciate that despite the significant progress  
5 made in the last 20 years, more options are  
6 urgently needed, particularly in this heavily  
7 relapsed and highly refractory population. We  
8 believe that the potential benefits of Belamaf  
9 outweigh the risks for many patients and believe  
10 patients and their doctors should evaluate this  
11 question for each individual patient.

12 "As you have heard, there remains a  
13 significant unmet need for relapsed/refractory  
14 patients, and making Belamaf widely available can  
15 help to address that need. Thank you."

16 DR. HOFFMAN: Alright. Thank you.

17 Speaker number 4, your audio is connected  
18 now. Will speaker number 4 begin and introduce  
19 yourself? Please state your name and any  
20 organization you're representing for the record.

21 MS. MOSCARITOLO: My name is Edwina  
22 Moscaritolo. I'm speaking on my journey with

1 multiple myeloma, and I have no affiliation with  
2 any institute regarding any monetary amount. I do  
3 have a recorded statement. I don't know if that  
4 plays or not.

5 DR. HOFFMAN: I think we're probably not  
6 going to be able to play the recorded pieces.

7 MS. MOSCARITOLO: Okay. So I'll just read  
8 it now.

9 DR. HOFFMAN: Please.

10 MS. MOSCARITOLO: Okay. My name is Edwina  
11 Moscaritolo, and I am a patient of Dr. Paul  
12 Richardson at Dana-Farber Institute in Boston. I'm  
13 going to tell you what I have been on and when.

14 I was diagnosed with smoldering multiple  
15 myeloma in 1993. My initial treatment was  
16 radiation therapy due to a plasmacytoma. I did  
17 have low-grade anemia that required procrit  
18 support. I was on pamidronate, a bone  
19 strengthener, for approximately one year. That was  
20 in May of 1999 to 2000. The myeloma smoldered  
21 until 2002.

22 When I appeared to have the disease most

1 consistent with very early-stage myeloma, from 2000  
2 until 2014, I remained on observation alone. From  
3 2014 until 2015, there was a slow increase in the  
4 anemia, which required an additional amount of  
5 progress, raising the concern that the myeloma may  
6 have been becoming more active. In fact, I was  
7 stable until 2015 when I progressed as my bone  
8 marrow revealed 90 percent plasma cell involvement.

9 I underwent lenalidomide and dexamethasone  
10 based therapy initially, but did not tolerate  
11 lenalidomide because of a rash. I was subsequently  
12 placed on Velcade and dexamethasone for one year  
13 with cyclophosphamide added in 2016. I still  
14 continued to have an increase in light-chain  
15 myeloma and was referred to Dr. Paul Richardson in  
16 2017 at Dana-Farber Cancer Institute, who  
17 recommended the addition of daratumumab to Velcade  
18 and dexamethasone.

19 In July 2017, I was in therapy, and on  
20 August 22, 2018, pomalidomide was added.  
21 Pomalidomide was discontinued on 8/25 due to rash  
22 and swelling of face. Due to the treatment of

1 Velcade, daratumumab, and dexamethasone not being  
2 effective any longer, my last treatment was in  
3 November 2018.

4 In January of 2019, I became a participant  
5 in the research study of GSK 2857916. On February  
6 26, 2019, my ophthalmologist noted corneal events  
7 which consisted of blurry vision, dry eyes, and  
8 light sensitivity, and I continued to have some  
9 issues with my eyes. I am on 5 eye drops daily to  
10 help with these conditions. I have some difficulty  
11 reading and have to find large-print books to read,  
12 but I am able to function normally otherwise.

13 Compared to other drugs I have received, the  
14 side effects have really been minimal by  
15 comparison. I wish to especially acknowledge  
16 Dr. Paul Richardson along with Kathy Colson, RN,  
17 and his team for monitoring my multiple myeloma and  
18 for encouraging me all along the way. They have  
19 been right on top of any issue I might have, and at  
20 the moment I am feeling very good.

21 My kappa light chains have come down  
22 significantly since I've been on this GSK drug, and

1 everyone seems very pleased with the results,  
2 including me and my family. I appreciate the  
3 opportunity to speak to this advisory board and  
4 hope that this GSK drug will be approved so that it  
5 will be able to help other multiple myeloma  
6 patients.

7 DR. HOFFMAN: Okay. Thank you.

8 MS. MOSCARITOLO: Okay.

9 DR. HOFFMAN: Oh, I'm sorry. I thought you  
10 were finished.

11 MS. MOSCARITOLO: I am finished.

12 DR. HOFFMAN: Okay. Thank you.

13 Speaker number 5, your audio is connected  
14 now. Will speaker number 5 begin and introduce  
15 yourself? And please state your name and any  
16 organization you're representing for the record.

17 (No response.)

18 DR. SEYMOUR: I'm speaker number 6 if  
19 there's no 5 coming.

20 DR. HOFFMAN: Okay. I guess why don't we go  
21 ahead with number 6, and we can go back to number 5  
22 if he or she comes.

1 DR. SEYMOUR: Okay. Alright. That sounds  
2 good. Thank you.

3 Thank you for the opportunity to speak today  
4 on behalf the National Center for Health Research.  
5 I'm Dr. Meg Seymour, a senior fellow at the center.  
6 Our center analyzes scientific and medical data to  
7 provide objective health information to patients,  
8 health professionals, and policymakers. We do not  
9 accept funding from drug or medical device  
10 companies, so I have no conflicts of interest.

11 Today the committee is asked to discuss  
12 whether the benefits of belantamab mafodotin  
13 outweighs risks for patients with relapsed or  
14 refractory multiple myeloma who received at least  
15 4 prior therapies.

16 While patients clearly need new and better  
17 treatments for RRMM, these treatments need to also  
18 have meaningful benefits to patients, especially if  
19 they are associated with serious risks.

20 There's a reason why this drug is only being  
21 considered as a fifth-line treatment for patients  
22 who have received at least 4 different types of

1 prior therapies. Some people might think this is  
2 just a Hail Mary pass, and therefore we're  
3 considering this better than nothing, but we know  
4 what will happen.

5 If FDA approves this drug, it will be  
6 heavily promoted and inappropriately used as a  
7 second- or third-line, and fourth-line treatment as  
8 well, as has happened with other drugs in the past.

9 So let's look at the data starting with the  
10 risks. The known risks are substantial. This is  
11 true particularly in regard to ocular toxicity,  
12 however, there are other risks associated with the  
13 treatment such as gastrointestinal toxicity.

14 Seventy-one percent of patients in the  
15 proposed dosage group of 2.5 milligrams per  
16 kilogram experienced keratopathy. This is  
17 alarming. Furthermore, one-fifth of the patients  
18 who completed vision assessment and who had little  
19 to no baseline symptoms, had to give up driving and  
20 had difficulty with or gave up reading. The  
21 applicant did not identify therapeutic strategies  
22 that would mitigate the risk of ocular toxicity and

1 the data are not sufficient to say whether delaying  
2 or lowering doses mitigates the risks.

3 While this loss of vision disrupted  
4 patients' lives, limiting their freedom, it might  
5 be a risk that is acceptable to some patients, but  
6 such a risk should be acceptable only if there's a  
7 reasonable chance of meaningful benefit such as  
8 longer life or improved quality of life; however,  
9 the benefits of this treatment are not clear.

10 What about the benefits? The benefits are  
11 questionable because the DREAMM-2 study is an  
12 open-label, single-arm, phase 2 clinical trial  
13 comparing 2 doses of the same drug with no other  
14 active comparison group. This is completely  
15 inadequate for a cancer drug. Although the  
16 applicant met its primary endpoint with an overall  
17 response rate of 31 percent, there's a lack of  
18 crucial data regarding overall survival and quality  
19 of life.

20 Given the available data and the study  
21 design, we agree with the FDA's assessment that the  
22 applicant is unable to claim that patients were

1 satisfied with the treatment and maintain a stable  
2 quality of life. If patients do not receive a  
3 benefit in terms of overall survival, they may be  
4 taking on unnecessary risks and complications that  
5 make their final days miserable.

6 Moreover, replication is the key to science.  
7 Because differences in the patients enrolled,  
8 medical practice, and even chance can affect the  
9 results, interpretation of the study is further  
10 complicated by comparison to a historical control,  
11 which may have very different study populations,  
12 timing of assessments, and changes in treatment  
13 options. These limitations make it difficult to  
14 evaluate the benefits and risks and determine  
15 generalizability.

16 There is a need for new treatments for RRMM.  
17 Patients may be willing to accept more uncertainty  
18 and more risk for new treatments, but their need  
19 should not be the basis for approving treatments  
20 whose benefits do not outweigh the risks. Based on  
21 the data discussed today, it is difficult to  
22 determine how well the treatment in question works

1 and whether its effect is clinically meaningful.

2 To approve a treatment for which the  
3 benefits do not clearly outweigh the risks creates  
4 additional risks for those who are already  
5 suffering. At the same time, FDA would have  
6 lowered their standards for cancer drugs, so FDA  
7 will no longer be the gold standard that patients  
8 and their physicians can rely on. Thank you.

9 DR. HOFFMAN: Thank you.

10 Speaker number 7, your audio is connected  
11 now. Will speaker number 7 begin and introduce  
12 yourself? And please state your name and any  
13 organization you're representing for the record.

14 (No response.)

15 DR. HOFFMAN: Do we have speaker number 7?

16 (No response.)

17 DR. HOFFMAN: Okay. Well, let's move on to  
18 speaker number 8. Your audio is connected now.  
19 Will speaker number 8 begin and introduce yourself?  
20 Please state your name and any organization you're  
21 representing for the record.

22 (No response.)

1 DR. HOFFMAN: Speaker number 8?

2 (No response.)

3 DR. HOFFMAN: Okay. Speaker number 9, your  
4 audio is connected now. Will speaker number 9  
5 begin and introduce yourself? Please state your  
6 name and any organization you're representing for  
7 the record.

8 (No response.)

9 DR. HOFFMAN: Okay. I'm told there is no  
10 number 9.

11 Number 10? Speaker number 10, your audio is  
12 connected now. Will speaker number 10 begin and  
13 introduce yourself? And please state your name and  
14 any organization that you're representing for the  
15 record.

16 DR. RICHARDSON: Hello?

17 DR. HOFFMAN: Yes, are you number 10?

18 DR. RICHARDSON: No. I apologize. No.  
19 This is Dr. Paul Richardson. I'm speaking for  
20 Dr. Ola Landgren and myself. We're speakers  
21 numbers 6 -- sorry, 7 and 8.

22 DR. HOFFMAN: Okay. Well, just a minute

1 because I just called on speaker number 10.

2 DR. RICHARDSON: I'm totally fine waiting,  
3 not a problem at all.

4 DR. HOFFMAN: I'm sorry. Just tell me what  
5 number you were.

6 DR. RICHARDSON: I'm speaking for speaker  
7 number 7, Dr. Ola Landgren on his behalf, and I am  
8 speaker number 8, Dr. Paul Richardson.

9 DR. HOFFMAN: Okay. Please go ahead.

10 DR. RICHARDSON: Lovely.

11 Good afternoon, everyone, and a pleasure to  
12 present to the committee this afternoon. I'm  
13 speaking on behalf of Dr. Ola Landgren first.  
14 Unfortunately because of the technical  
15 difficulties, his prerecorded statement could not  
16 be uploaded. So on behalf of Ola, who's the  
17 professor of medicine and chief of the myeloma  
18 program at Memorial Sloan Kettering Cancer Center  
19 in New York, he would like to convey his opinion on  
20 the matter of belantamab mafodotin for the approval  
21 for the treatment of relapsed and refractory  
22 myeloma.

1           To give you background for Ola's program, he  
2 states, "We last saw over 10,000 outpatient visits  
3 for myeloma, and therefore we do have patients in  
4 all different stages of disease." He oversees the  
5 program and he states that he can offer our  
6 patients very many different treatment options, but  
7 unfortunately, because there is no cure yet  
8 established for multiple myeloma, eventually  
9 patients become triple-class refractory. "Many of  
10 these patients can be very difficult to treat,  
11 unfortunately, and eventually we run out of  
12 therapeutic options that are viable."

13           "For all these reasons, we continue to  
14 urgently need new drugs that are based on new  
15 mechanisms of action where there is efficacy, and  
16 in that context, we have been using belantamab  
17 mafodotin in a variety of clinical trials --"

18           (Automated voice interruption.)

19           DR. RICHARDSON: I'm sorry, Dr. Hoffman. I  
20 don't know what that was, but I'll carry on.

21           "I can attest that the drug clearly has  
22 efficacy. I'm also aware, similar to all other

1 drugs, that there is a toxicity profile."

2 Specifically, Dr. Landgren does think that  
3 the focus has been on keratopathy, and that has  
4 been recognized both at meetings and in  
5 publications, but I also do think that clinically  
6 it's not every patient that suffers from this. In  
7 his experience, about a quarter of patients could  
8 have blurred vision, and this for the most part is  
9 reversible in the Memorial Sloan Kettering Cancer  
10 Center experience when the drug is stopped after  
11 some weeks or even after several months. But  
12 nonetheless, the toxicity is, in his experience,  
13 manageable.

14 He would again like to emphasize that when  
15 patients become triple-class refractory, there may  
16 not be other options, so having options and letting  
17 the patients have the option to choose whether he  
18 or she is interested in going on to try a new  
19 regimen is of paramount importance.

20 He thinks this is a modern way of offering  
21 patients treatment in the future. He hopes that  
22 you find his testimony useful, and he asks that you

1 kindly take this into account for today's meeting.

2 Thank you very much, Dr. Hoffman.

3 DR. HOFFMAN: Okay. Do you want to proceed  
4 now as number 8?

5 DR. RICHARDSON: If you wouldn't mind, I  
6 would love to, thank you; just to echo some of the  
7 excellent comments made earlier and the very  
8 important discussion that we've already had.  
9 You've heard very nicely from two of my patients,  
10 Chris first and then Edwina, but I think they  
11 crystallized -- both at one end of the age spectrum  
12 and of the other, Edwina being older than  
13 Chris -- the importance of this agent to their  
14 management.

15 I think that critically what we see here is  
16 a new class of drug in terms of the mechanism of  
17 action and that it targets BCMA, as you heard so  
18 nicely before. This is a key target for us in the  
19 relapsed/refractory space, which obviously there  
20 are a number of strategies currently pursuing, but  
21 none of which are yet approved.

22 Most importantly, as an off-the-shelf

1 option, this antibody drug conjugate platform  
2 provides us with a very viable and user-friendly  
3 approach in the sense of being reliable and  
4 available to patients in both the community and  
5 academic setting, which is tremendously important  
6 as we think about the current environment as we  
7 deal with the consequences of COVID and beyond.

8 I think in that same spirit, the toxicity  
9 that you've heard about is clearly manageable in  
10 our experience, and Edwina and Christopher were  
11 very gracious in acknowledging how carefully my  
12 research nursing team in particular has managed the  
13 toxicity. But very important to share that this  
14 has been actually very practical.

15 We've used ophthalmic consultants of Boston  
16 who are off site, so in a sense, that's provided us  
17 with a kind of real-world experience where our  
18 patients have gone to an office practice that's  
19 separate to Dana-Farber, been evaluated, and come  
20 to us. We've liaised with their ophthalmologists  
21 and then being able to go on and treat them.

22 I think what's particularly important about

1 Christopher's experience, to illustrate from  
2 DREAMM-1, is the longevity of his clinical benefit  
3 and the fact that in terms of long-term toxicities,  
4 by far and away, the bigger problem he's been  
5 dealing with has unfortunately been the  
6 consequences of bisphosphonate exposure and  
7 certainly none of the residual minimal toxicities,  
8 in fact, that he encountered with belantamab  
9 mafodotin.

10 In a similar vein, Edwina's had a more  
11 challenging course in terms of her ocular issues.  
12 As she so nicely framed it, these have proved  
13 manageable, and her triple-class resistant  
14 refractory disease has been controllable now for 18  
15 months, which is quite remarkable in the setting of  
16 such aggressive disease.

17 So I think they both in different ways  
18 exemplify the toxicology of this drug being  
19 manageable, most importantly with appropriate  
20 real-world considerations and ophthalmic  
21 collaboration. I think the REMS program that you  
22 heard so nicely from the sponsor team, from our

1 perspective, would be a very practical approach to  
2 managing this.

3 So in conclusion, I would simply echo the  
4 comments made earlier by previous speakers but to  
5 emphasize a real-world experience from both DREAMM-  
6 1 and DREAMM-2, emphasizing the clinical benefit  
7 we've seen of this approach for our patients. And  
8 reflective of that, our expanded access program is  
9 enrolling very rapidly such that at our center we  
10 have over 20 patients worth of experience now,  
11 including both DREAMM-1, DREAMM-2, and now very  
12 rapid enrollment in the expanded access program,  
13 reflecting the importance of this approach.

14 I just want to thank you very much for your  
15 kind attention.

16 DR. HOFFMAN: Okay.

17 DR. RICHARDSON: I do want to add one last  
18 comment. I have no relevant financial disclosures  
19 and have not obviously been compensated in any way  
20 for today's discussion.

21 DR. HOFFMAN: Okay. Thank you.

22 Open public hearing speaker number 5 is on

1 the line. Your audio is connected. Will you  
2 please introduce yourself? State your name and any  
3 organization you're representing.

4 DR. McDONALD: Yes. Good afternoon. I'm  
5 Marguerite McDonald. I'm an MD. I'm a clinical  
6 professor of ophthalmology at both NYU in New York  
7 and Tulane in New Orleans. I'm a cornea specialist  
8 with OCLI Vision on Long Island and director of  
9 their clinical Dry Eye Center of Excellence. I'm  
10 also a consultant to GSK.

11 I want to thank FDA and the ODAC for  
12 permission to address you today. It's my pleasure  
13 to speak to you about the need for regular eye  
14 exams in patients with relapsed or refractory  
15 multiple myeloma, who will be treated with  
16 belantamab mafodotin. Any ophthalmologist or  
17 optometrist can perform the slit-lamp exam and an  
18 assessment of best corrected vision; any change  
19 from last exam; and the grading of corneal changes  
20 attributed to the medicine and the change from the  
21 last exam.

22 The elements of these assessments are not

1 unique to patients on belantamab mafodotin; they're  
2 routine for an eye care professional. We note any  
3 corneal changes at the slit-lamp. In other words,  
4 these tests are the standard of care for any office  
5 visit on any patient, and we in the eye care  
6 community are all qualified to perform them.

7 We eye care professionals are located in  
8 private practice, clinics, and major retailers and  
9 malls across the country, so nearly all Americans  
10 have easy access to our services. It's very  
11 important to note two things. One, the exams are  
12 routine and shouldn't be considered a hindrance for  
13 an effective therapy, and keratopathy is a common,  
14 manageable eye condition; and two, the timely  
15 collaborations between the oncologist and eye care  
16 practitioner, MD or OD, is essential as it is with  
17 other treatments.

18 I hope this has been helpful. Please let me  
19 know if I can provide further information.

20 DR. HOFFMAN: Alright. Thank you.

21 Also speaker number 10 is now connected.

22 Will you please begin and introduce yourself? And

1 state your name and any organization that you may  
2 represent.

3 MR. GREKLEK: Hello again. This is Chris  
4 Greklek speaking again on behalf of Sue Glick. She  
5 cannot be here today.

6 Can you hear me alright?

7 DR. HOFFMAN: Yes.

8 MR. GREKLEK: Oh, great. Sue Glick is not  
9 affiliated or having any -- she is not being  
10 compensated by anyone regarding this. She would  
11 just be representing herself.

12 "Hello. My name is Sue Glick. I'm 69 years  
13 old with two children and two grandchildren. Thank  
14 you so much for this opportunity to testify on  
15 behalf of belantamab. I was diagnosed with  
16 smoldering multiple myeloma late in January 2014.  
17 I then began therapy in May of 2014, and since that  
18 time have been on many different types of drugs,  
19 including a stem-cell therapy three years ago in  
20 September, and then also CAR-T cell therapy two  
21 years ago.

22 "As you well know, all these therapies cause

1 different side effects and I have experienced them  
2 all. But each drug has its merits, and I am  
3 grateful, and I think it was worth my while to try  
4 them. I am also happy to have the opportunity to  
5 be with doctors who are looking out for me and  
6 giving me those opportunities.

7 "In addition, belantamab has been my latest  
8 drug, and I am taping this the day after my fourth  
9 infusion of belantamab. My last infusion was on  
10 hold because I was experiencing the main side  
11 effects of this drug, which are eye issues and low  
12 platelets. Both of these issues resolved  
13 somewhat, and I was then eligible to then get the  
14 infusion yesterday.

15 "For me, my quality of life is still one of  
16 the happiest and I am happy with it. I get to walk  
17 every day, do my workouts, even with the side  
18 effects. I don't like them. I haven't liked any  
19 of the side effects I've had, but I want to  
20 continue to live for my children, my grandchildren,  
21 and myself. So I feel very fortunate, and the drug  
22 has definitely been worth my while to this point.

1 It is working. The doctors are very pleased, and  
2 if they're pleased, I'm pleased. Thank you very  
3 much."

4 That was on behalf of Sue Glick.

5 **Questions to the Committee and Discussion**

6 DR. HOFFMAN: Okay. Thank you.

7 The open public hearing portion of this  
8 meeting is now concluded, and we will no longer  
9 take comments from the audience. The committee  
10 will now turn its attention to address the task at  
11 hand, the careful consideration of the data before  
12 the committee, as well as the public comments.

13 We'll now proceed with the questions to the  
14 committee and panel discussions. I'd like to  
15 remind public observers that while this meeting is  
16 open for public observation, public attendees may  
17 not participate except at the specific request of  
18 the panel.

19 So let's move to the first question. The  
20 first question for discussion is for us to discuss  
21 Whether the risk of ocular toxicity has been  
22 adequately characterized in study 205678 to allow

1 for an assessment of the benefit-risk profile.

2 Are there any concerns or comments about the  
3 wording of the question?

4 (No response.)

5 DR. HOFFMAN: Okay. We can open this  
6 question for discussion. Please indicate by  
7 raising your hand if you want to make a comment.  
8 Obviously, the questions that we have are somewhat  
9 similar. Any specific comments, particularly  
10 perhaps from our ophthalmology members of the  
11 committee?

12 Dr. Feldman, you have a comment?

13 DR. FELDMAN: Yes. I think that the sponsor  
14 did a good job in characterizing the ocular  
15 toxicity of the patients in the trial during the  
16 trial period. The one question that remains is for  
17 the responders who go on to remain on drug for a  
18 longer period of time, what the ocular toxicity is  
19 as time goes on and if those patients take longer  
20 to recover from subsequent grade 3 or grade 4  
21 toxicity incidences. We don't really have clear  
22 data looking at a longer time frame. Thank you.

1 DR. HOFFMAN: Thank you. Are there any  
2 other comments regarding this question before we  
3 move to the second question? Not ready to vote  
4 yet.

5 DR. GARCIA: I've raised my hand.

6 DR. HOFFMAN: I'm sorry. Dr. Garcia?

7 DR. GARCIA: Thank you, Dr. Hoffman.

8 Obviously, I think one of my concerns is I  
9 don't think DREAMM-2 really is able to characterize  
10 the ocular toxicities noted on trials, but I do  
11 believe there is already existing data with these  
12 sort of unique toxicities across other  
13 malignancies. I'm a GU medical oncologist  
14 specifically, and although with some of the agents  
15 now FDA approved for bladder cancer, we wrestle  
16 with the same concerns of ocular toxicity.

17 I think that there is a precedent that  
18 oncologists have the ability to work with  
19 ophthalmologists to try to learn and understand  
20 these unique side effects in a heavily pretreated  
21 patient population. I just wish, with the DREAMM-1  
22 data, that the sponsor would have been more

1 careful, perhaps, in their approach for DREAMM-2  
2 and be able to, actually, instead of doing a small  
3 ocular substudy, if you will, of 17 and 13  
4 patients, that they could have actually expanded  
5 that to the entire patient population on trial and  
6 really try to tease out medical management as they  
7 went on trial, prospectively, if you will.

8 DR. HOFFMAN: Thank you.

9 Dr. Chodosh, did you have a comment?

10 DR. CHODOSH: Yes. This is Jim Chodosh.  
11 The question to adequately characterize, that's  
12 where the rub is. I don't think that the risk of  
13 ocular toxicity has been fully characterized; I'll  
14 just put it that way. Whether it's adequate to  
15 recommend approval is a different question.

16 I think it's always easy in retrospect to  
17 say, well, it could have been looked at more  
18 closely, and I'd certainly like to know more about  
19 it. But I guess I come down thinking that the odds  
20 that there's going to be huge unforeseen events  
21 regarding corneal toxicity are relatively low. I'd  
22 like to know more about it, but I'm less concerned

1 maybe than I was when I started reading the  
2 document.

3 DR. HOFFMAN: Mr. Mitchell?

4 MR. MITCHELL: Yes, Dr. Hoffman, thank you.  
5 I want to just say I agree with the previous  
6 speaker, fully versus adequately. I don't think we  
7 have fully explored the toxicity profile. And one  
8 thing I wish I knew more about, especially given  
9 the similarity of the results from the  
10 3.4 milligram and the 2.5, in terms of effecting  
11 the cancer, if even a lower dose would be effective  
12 and produce lower toxicity.

13 But again, this key goes directly to the  
14 idea has it been fully explored versus adequately  
15 explored. I wish I knew that the lower dose would  
16 help with the toxicity, but at the moment we don't.

17 DR. HOFFMAN: Okay. Thank you for your  
18 comment.

19 Are there any other comments about this  
20 question before we move to discuss the second  
21 question?

22 (No response.)

1 DR. HOFFMAN: Okay. Let's move to the  
2 second question, discuss the impact of ocular  
3 toxicity on the benefit-risk profile for belantamab  
4 mafodotin. I think this is sort of the meat of the  
5 matter, if you will. I'm happy to hear comments  
6 from the group.

7 DR. THANARAJASINGAM: Dr. Hoffman, this is  
8 Gita Thanarajasingam. I don't think you could see  
9 my hand raised, but I have comments when it's my  
10 turn.

11 DR. HOFFMAN: Please go ahead.

12 DR. THANARAJASINGAM: My research expertise  
13 lies in improved evaluations of adverse events and  
14 understanding treatment tolerability from the  
15 patient perspective, which I think is a really  
16 central issue in this ODAC. We're talking about a  
17 novel ocular AE related to Belamaf, and it's  
18 substantial and requires monitoring.

19 In my opinion, the level of reversibility,  
20 as evidenced by 52 percent of patients who had not  
21 recovered as of the 9 months of follow-up, and the  
22 ability to mitigate with dose modification

1 strategies is uncertain. I have, like many other  
2 members here, concerns about the feasibility of  
3 broad, consistent access to the ocular specialists  
4 who are aware of this drug and need it for  
5 monitoring of the AE in community settings, but I  
6 think the big question here is how tolerable was  
7 this AE to patients and was it worth it to them; so  
8 I wanted to flush out that discussion a little bit  
9 more.

10 Tolerability is a degree to which  
11 symptomatic and non-symptomatic AEs affect the  
12 ability or the desire of a patient to adhere to  
13 therapy. It's informed by clinician-reported AE  
14 data, dose modifications, and treatment  
15 discontinuations. And I'll pause to say that it's  
16 meaningful that only 2 of 95 patients on this dose  
17 level discontinued treatment due to keratopathy.

18 Tolerability is also informed by  
19 patient-reported outcomes, and the investigators  
20 here did a good job of incorporating several PRO  
21 assessments to evaluate the functional impact of  
22 ocular AEs on patients to help us understand what

1 the true tolerability was.

2 Despite some limitations, I do think the PRO  
3 data provided are interpretable, and they're  
4 meaningful, and they demonstrate some concerning  
5 trends. For example, via NEI-VFQ, 21 percent of  
6 patients gave up night driving, and by the  
7 PRO-CTCAE, 45 percent of patients who experienced  
8 blurry vision reported quite a bit or very much  
9 interference in their lives.

10 From the meeting materials that were  
11 provided, the study also conducted an embedded  
12 interview substudy asking patients about their  
13 satisfaction with the treatment, and I think this  
14 would have been more valuable if it was done  
15 earlier than cycle 4, as most patients were off  
16 study by then, so this was selecting for patients  
17 who are responding.

18 That all being said, in the heavily  
19 pretreated triple-class relapsed/refractory  
20 population, the calculus of whether treatment is  
21 tolerable or worth it is different than in the  
22 front line. I was struck by one of the patient's

1        comments on the submitted material that patients  
2        hate side effects, but they hate dying of myeloma  
3        and running out of options even more. But even in  
4        a population with limited options, adverse effects  
5        that cause pain; or ones that cause intractable  
6        fatigue; or inflict the need for frequent visits;  
7        or numerous supportive care interventions; or  
8        monitoring are substantial, and they may be worse  
9        than the disease for most.

10                Here, the symptoms reported in the trial are  
11        primarily dry eyes, blurry vision, decreased visual  
12        acuity affecting the ability to drive or read, and  
13        even severe vision loss. Are these AEs ones  
14        patients are willing to tolerate? Is it worth it  
15        when there aren't alternate options? I wish we had  
16        some rigorous PROs that asked patients, "Is it  
17        worth it?"

18                At this juncture, based on the treatment  
19        discontinuations, the PRO data, and what the  
20        patients here are telling us, it seems like the  
21        ocular AEs may be worth it to some or most  
22        refractory patients and that we have characterized

1 this toxicity adequately but not fully, as was just  
2 discussed. So I do think that talking about the  
3 risk-benefit discussion between patients and  
4 clinicians for this drug is very reasonable. Thank  
5 you.

6 DR. HOFFMAN: Alright. Thank you.

7 Are there any additional comments about  
8 this? Raise your hand or speak if I'm not seeing  
9 your hand here.

10 DR. CHODOSH: This is Jim Chodosh.

11 DR. HOFFMAN: Oh, please, go ahead. Yes?

12 DR. CHODOSH: My hand is raised. I'm not  
13 sure that the hand raising is working properly.

14 DR. HOFFMAN: Well, it raises, but it  
15 doesn't go down in my experience, so that's why I  
16 thought maybe you --

17 DR. CHODOSH: Well, it goes down if you  
18 could just make it go down. Okay.

19 So I agree. I think it comes down to what I  
20 saw in the written statements and then heard in the  
21 open statements, public statements, which were, for  
22 the most part, it's worth it because these folks

1 are out of options with regard to extending their  
2 life, and they show it's worth taking this chance.

3 I think a very great majority of patients,  
4 unless they're just tired of living, would opt for  
5 the possibility that they might have some visual  
6 problems and not be able to read and drive for some  
7 time, or maybe indefinitely, over dying. I think  
8 when you put it in that context, I think the great  
9 majority of people  
10 would choose it.

11 I would love to see a study asking that  
12 specific question of patients in the trial. I  
13 think that would be very worthwhile in a situation  
14 where you're nearing an earlier demise to loss of  
15 some independence. Thank you.

16 DR. HOFFMAN: Thank you.

17 Dr. Klepin?

18 DR. KLEPIN: Hi. This is Heidi Klepin. I  
19 agree with the prior speakers. I think it was all  
20 very eloquently stated. I did just want to hear  
21 again from the ophthalmologists on our committee  
22 today about the question with regard to feasibility

1 of developing these collaborative relationships,  
2 sort of larger scale, from their perspective in the  
3 community oncology setting. In academic centers,  
4 it's probably easier for us to do and maybe a  
5 little more challenging when your patients already  
6 have varied optometrists and ophthalmologists, but  
7 not impossible.

8 I'm just curious about their perspective,  
9 and particularly about whether or not -- and I  
10 might have misunderstood this, but it sounded like  
11 the grading system would be one that many providers  
12 would need to be educated on, if I'm correct on  
13 that. Does that seem like something where the onus  
14 would then be on the oncologist to provide to the  
15 ophthalmologist, or did I misinterpret that?

16 I'm just trying to get a sense of the  
17 feasibility of the monitoring process working.  
18 Obviously, it will take some time to get it set up  
19 in a lot of practices, but curious about your  
20 thoughts.

21 DR. HOFFMAN: Dr. Feldman, do you want to  
22 make a comment on that? I see your hand up. I

1 know that's probably not why it is up, but would  
2 you want to make a comment as an ophthalmologist?

3 DR. FELDMAN: Yes. Thank you, Dr. Hoffman.

4 Yes. To clarify, my criticism of the scale  
5 wasn't intended to mean that any eye care provider  
6 could follow that grading system. It actually is  
7 pretty simple to follow. So I think it would be  
8 very feasible to have an optometrist or an  
9 ophthalmologist be able to interpret the grading  
10 scale.

11 I do think there would need to be some  
12 education put out either by FDA, industry, or  
13 perhaps our national organization about this  
14 particular medicine so there can be a deeper  
15 understanding of how the ophthalmologists partner  
16 with the oncologists, and is so critical because it  
17 is very different from any other medicine that I'm  
18 aware of that we're monitoring ocular toxicity for  
19 in ophthalmology. It doesn't mean it can't be  
20 done; I just think it will be an educational  
21 process about the importance of these visits among  
22 several key stakeholders. I do think that

1 ophthalmologists in the community can work with  
2 oncologists successfully, though, in this regard.

3 Thank you.

4 DR. HOFFMAN: Okay. Thank you.

5 Dr. Garcia, did you have your hand up?

6 DR. GARCIA: Yes, Dr. Hoffman.

7 I just want to comment, just to echo  
8 Dr. Feldman's comments, that one of the concerns  
9 when I was reading the docket was the percentage of  
10 patients with asymptomatic ocular AEs. But it  
11 appears that in the REMS program that the company  
12 has put forward, not only are they going to  
13 actually tackle people, those who have symptoms,  
14 but they're going to actually capture those with  
15 asymptomatic disease, which means that the eye  
16 exams appear to be mandated now regardless of  
17 having symptoms and no symptoms, which I think  
18 would allow to capture those patients who may be  
19 developing ocular toxicities, yet they don't have  
20 symptomatology.

21 I also think that when you look at -- and  
22 I'm not a myeloma expert, but I would argue that

1 when you're going to fourth- and fifth-line  
2 therapy, most of the patients in America may be  
3 actually already seen at major ophthalmic  
4 institutions. So it's hard for me to believe that  
5 a person who has gone to fourth- or fifth-line  
6 therapy is still sitting in the community  
7 oncologists. I would argue most of those patients  
8 probably have already been referred early on for  
9 clinical trials and may even stay at large  
10 practices.

11 Also, I think with one of the comments, I  
12 want to pick on a comment that I heard in the open  
13 presentations, which is questioning the  
14 transparency and/or the reliability of the FDA  
15 should this agent get approved. I disagree with  
16 that.

17 I think that regardless of the approval or  
18 not of this agent, we all have to recognize that  
19 clinical cancer research would require  
20 adaptability, and it is quite important for us to  
21 embrace new endpoints outside survival benefit,  
22 especially in patient populations that are heavily

1 pretreated, as is the case for multiple myeloma and  
2 among many other tumors.

3 DR. HOFFMAN: Okay. Thank you.

4 Dr. Gupta, did you want to make a comment?

5 DR. GUPTA: Yes. Thank you. This is Ira  
6 Gupta from GSK. I did just want to acknowledge and  
7 reiterate that as a part of the REMS, the  
8 education, as well as ensuring that the patients  
9 are seen by an eye care professional before the  
10 patient is dosed, as well as the fact that  
11 irrespective of patient symptoms, all eye  
12 examination findings, including changes in visual  
13 acuity, will be captured.

14 The comments made from Dr. Feldman will all  
15 be taken into consideration with the proposed REMS  
16 data in the real-world setting. Thank you.

17 DR. HOFFMAN: Okay. Thank you.

18 Dr. DeFlice?

19 DR. CHODOSH: Dr. Hoffman?

20 DR. HOFFMAN: Yes?

21 DR. CHODOSH: This is Dr. Chodosh again. In  
22 responding to whether it's feasible for eye care

1 providers to do this exam, we already do exams for  
2 Plaquenil toxicity, which is only annual. We also  
3 do diabetic eye exams, and in some medical record  
4 systems that's built into the system as a warning  
5 and is a common quality measure for  
6 ophthalmologists to have done an annual diabetic  
7 dilated eye exam.

8 I don't think it's a very heavy list to get  
9 there if it's required to have an eye care provider  
10 do the testing. I don't think that this  
11 examination is particularly complicated, and  
12 certainly less complicated to do this than what we  
13 typically do and what comprehensive  
14 ophthalmologists do to test for Plaquenil toxicity.  
15 So I just wanted to add that.

16 DR. HOFFMAN: Alright. That's a good point.

17 Dr. DeFlice, do you have a comment?

18 (No response.)

19 DR. HOFFMAN: You're on.

20 (No response.)

21 DR. HOFFMAN: You probably need to unmute if  
22 you're muted.

1 DR. DeFLICE: Can you hear me now?

2 DR. HOFFMAN: Now I can, yes.

3 DR. DeFLICE: Yes. As a myeloma patient, I  
4 know hundreds of myeloma patients, and some with  
5 refractory/relapsed disease that would be very  
6 willing to try this new drug given the option,  
7 where there's not many options. I think with the  
8 ocular toxicity and the ability to follow this with  
9 ophthalmology, I think it would be truly a benefit  
10 for these patients. So I would be in favor of  
11 tolerating the possible ocular toxicity and using  
12 this new drug. Thank you.

13 DR. HOFFMAN: Alright.

14 Dr. Uldrick?

15 (No response.)

16 DR. HOFFMAN: Dr. Uldrick, are you able to  
17 get on?

18 DR. ULDRICK: Dr. Hoffman?

19 DR. HOFFMAN: Yes?

20 DR. ULDRICK: This is Tom Uldrick. Sorry  
21 about the technical difficulties. Most of my  
22 comments have been addressed.

1           Are we still on question 1 or are we on  
2 question 2?

3           DR. HOFFMAN: Well, on question 2, but you  
4 can make a comment.

5           DR. ULDRICK: The one thing that I would say  
6 is that I think BCMA is an important target, and  
7 the efficacy data, although limited and still  
8 coming out, suggest an interesting durability of  
9 response that needs to be taken into consideration.  
10 I agree with the speaker who said that the very low  
11 number of participants discontinuing due to use of  
12 drug suggests that patients will accept this  
13 risk-benefit.

14           DR. HOFFMAN: Okay. Thank you.

15           Dr. Feldman, did you have another comment?

16           DR. FELDMAN: Yes. Thank you. I have just  
17 a quick comment, and then I'll counter my own  
18 comment. There likely is, or was, a selection bias  
19 in the patients willing to participate in the  
20 trial. We see in clinical practice that there are  
21 patients who are terrified of their side effects  
22 and who are terrified of losing any vision. I'm

1       assuming those patients wouldn't have filled out  
2       the informed consent to do the DREAMM-2 trial.  But  
3       the same is going to happen in clinical practice,  
4       and there are patients who really would rather  
5       suffer from the disease than take on the risk of  
6       ocular toxicity, will self-select, and not be given  
7       this drug.

8               So the patients, as long as they are  
9       informed of the risks, I think will be active  
10      participants in making this decision, and that  
11      makes me feel comfortable about the risk-benefit  
12      profile for this drug.

13             DR. HOFFMAN:  Okay.

14             Dr. Garcia, did you have one final comment?

15             DR. GARCIA:  Yes.  Thank you, Dr. Hoffman.

16       I have wrestled with where I'm going to be with  
17       this vote.  I put myself in the position as a  
18       medical oncologist to try to understand the  
19       uniqueness of this set of novel ocular toxicity, if  
20       you will, because we're accustomed in medical  
21       oncology to see grade 3's and grade 4's,  
22       neutropenias, leukopenias, anemias, and

1 thrombocytopenia.

2 One has to wonder if the AE profile of this  
3 agent was similar to the AE profile from selinexor,  
4 hypothetically, with regard to hematologic  
5 toxicities, for instance, we would actually be  
6 hesitant with benefit-risk ratios in the context of  
7 DREAMM-2. I think the novelty of the ocular  
8 toxicity is what puts the pressure, perhaps, on the  
9 group. But the fact that it's a novel ocular  
10 toxicity doesn't make it, in my opinion, any  
11 different than having a grade 3 or 4 with  
12 hematologic toxicity when you become transition  
13 dependent, and still patients continue therapy and  
14 actually have a pretty decent quality of life,  
15 however, you define those PROs.

16 DR. HOFFMAN: Okay. That's helpful. Thank  
17 you. I agree.

18 Let's talk about our voting now. We're  
19 going to be using email to submit our vote for this  
20 meeting. After everyone has submitted their vote,  
21 the vote will be compiled while we take a brief  
22 break. The vote will then be displayed on the

1 screen. The DFO will read the vote from the screen  
2 into the record.

3 Next, I will go down the roster, and each  
4 individual who voted will state their name and vote  
5 into the record. You can also state the reason why  
6 you voted as you did if you want to. We will  
7 continue in the same manner until all questions  
8 have been answered or discussed.

9 The question for voting is, does the  
10 demonstrated benefit of belantamab mafodotin  
11 outweigh the risks in the proposed patient  
12 population with multiple myeloma?

13 Any concerns or any questions about the  
14 wording? I think Mr. Mitchell expressed some  
15 earlier this morning, but this is the question at  
16 hand. Any comments about the wording of it?

17 (No response.)

18 DR. HOFFMAN: Okay. I think in many ways  
19 the discussion we've had regarding questions 1 and  
20 2 have touched on most of the aspects of question  
21 3. Are there additional comments, short of saying  
22 how you're going to vote, regarding this question?

1 Or else if there are, we'll take them, and if not,  
2 we will proceed to vote.

3 Raise your hand if you have additional  
4 comments or questions. There are a couple people  
5 who, for some reason, I don't get to see their hand  
6 up. I don't see Dr. Waples flagging me on that.

7 (No response.)

8 DR. HOFFMAN: Okay. So we're now going to  
9 begin the voting. You will have received an email  
10 yesterday from Yvette Waples with the voting  
11 instructions. The instructions are to reply "all"  
12 to that message. She sent it at 3:55 p.m. central  
13 time if you're looking for it.

14 When you respond, state your name, that it  
15 is question 3, and you're voting yes or no, or I  
16 suppose abstain is a possible vote as well. So  
17 let's go ahead and respond to that message, and  
18 then we'll take a few minutes break while our folks  
19 at the FDA compile the votes.

20 (Voting.)

21 DR. HOFFMAN: Okay. I understand that we're  
22 ready to show the vote. We have two members who

1 did not vote because they had to leave early,  
2 Dr. Hinrichs and Dr. Sung.

3 DR. WAPLES: Hi. Good afternoon. For the  
4 record, for question number 3, we have 12 yes, zero  
5 no, zero abstain, and 2 no votes.

6 DR. HOFFMAN: Okay. So everyone has voted;  
7 well, except Dr. Hinrichs and Dr. Sung, who we knew  
8 had to leave early, so they did not vote. We have  
9 the vote now read into the record. Now that the  
10 vote is complete, we'll go down the list, briefly,  
11 and have everyone who voted state their name, vote,  
12 and if you wish, you can state the reason why you  
13 voted as you did.

14 Dr. Cristofanilli?

15 DR. CRISTOFANILLI: This is  
16 Dr. Cristofanilli, and I voted yes because I think  
17 the data showed that the drug is effective in spite  
18 of dose reductions and interruptions. I think this  
19 tells a lot on the efficacy of this drug itself and  
20 the toxicity. The ocular toxicity has been clearly  
21 described, and there is a monitoring plan treatment  
22 that will come eventually. So I think these

1 early-treated patients deserve to have access to  
2 this drug.

3 DR. HOFFMAN: Thank you.

4 Dr. Garcia?

5 DR. GARCIA: I voted yes, and I voted yes  
6 for multiple reasons; one, the lack of therapeutic  
7 options for patients with refractory multiple  
8 myeloma in the context of the patient population  
9 for DREAMM-2. The benefits in the context of that  
10 patient population are consistent and to some  
11 extent similar to what we have seen with other  
12 agents in the same space.

13 Although I don't believe we were able to  
14 really discern really well the ocular toxicities as  
15 we described before, I do think they are already  
16 present for collaboration between ophthalmologists  
17 and medical oncologists for the management of these  
18 novel toxicities. And as I alluded to in my  
19 statement, if this was the typical AE that we tend  
20 to see in our clinical research protocols, we may  
21 be actually facing a different discussion, but it  
22 is the novelty of this toxicity.

1           I think the data, although imperfect to some  
2 extent, clearly demonstrated to me that they are  
3 very unlikely significant toxicities for patients  
4 to experience, and to the extent of what the data  
5 will look like, the side effects, at least the  
6 ocular side effects, appeared to be managed  
7 appropriately with a good, very close  
8 ophthalmologic follow-up.

9           DR. HOFFMAN: Okay. And for the record,  
10 that was Dr. Garcia. Please state your name when  
11 you start to speak.

12           Dr. Halabi?

13           DR. HALABI: Yes. Susan Halabi. I voted  
14 yes. There is obviously an unmet need in this  
15 group of heavily-treated patients, and I think  
16 personally it's really difficult in a single and  
17 non-competitive trial to assess clearly the  
18 benefits. But overall, even though I was a little  
19 bit concerned with the risk, I think after today's  
20 presentation, I like the sponsor's approach in  
21 terms of the management plan and the ability for  
22 medical oncologists to work with ophthalmologists.

1 Thank you.

2 DR. HOFFMAN: Thank you. Dr. Hinrichs did  
3 not vote.

4 Mr. Mitchell? Oh, I'm sorry. My own vote.

5 This is Philip Hoffman. I voted yes. I  
6 think for reasons that we've pretty much heard, I  
7 think it seems clear that this is an active agent.  
8 The toxicity is certainly not life-threatening. In  
9 many instances, it isn't even comfort threatening,  
10 though evident on examinations. I do think  
11 patients are probably willing to take this risk,  
12 and I think that the mitigation strategy that the  
13 company has outlined for exams before each dosing  
14 and a grading system and so on is reasonable and  
15 addresses the concerns.

16 Mr. Mitchell?

17 MR. MITCHELL: I'm David Mitchell, and I  
18 voted yes. I think the answer to the question  
19 posed objectively is yes. We need a BCMA drug in  
20 multiple myeloma, and I think relapsed and  
21 refractory multiple myeloma patients should make  
22 the choice here. It will be important for a whole

1 lot of people to have that choice and to have this  
2 drug out there with the REMS and risk mitigation  
3 strategies that everyone has referred to. Thank  
4 you.

5 DR. HOFFMAN: Okay. Thank you.

6 Dr. Sung did not vote. Dr. Cheng is a  
7 non-voting member of the committee.

8 Dr. Chodosh?

9 DR. CHODOSH: Yes. You can hear me?

10 DR. HOFFMAN: Yes.

11 DR. CHODOSH: Okay. I'm so sorry.

12 So I voted yes for the statements I made  
13 earlier and explained why. Thank you.

14 DR. HOFFMAN: Okay.

15 Dr. DeFlice?

16 DR. DeFLICE: John DeFlice. I voted yes.

17 It's a new drug, a new class of drug for  
18 refractory/relapsed multiple myeloma with a  
19 positive benefit-risk profile, and a drug that can  
20 be taken off the shelf. So I wholeheartedly voted  
21 yes.

22 DR. HOFFMAN: Thank you.

1 Dr. Feldman? State your name, please.

2 (No response.)

3 DR. HOFFMAN: Dr. Feldman, are you on?

4 (No response.)

5 DR. HOFFMAN: Okay. Well, we see his vote  
6 is yes.

7 Dr. Klepin?

8 DR. KLEPIN: Yes. This is Heidi Klepin. I  
9 voted yes. I think the efficacy and the toxicity  
10 remains favorable in the highly pretreated patient  
11 population that was studied with a REMS plan in  
12 place. I think the informed consent process will  
13 allow patients to choose whether this trade-off is  
14 worth it to them.

15 I would encourage the sponsor to really try  
16 to put some additional effort into enrolling and  
17 reporting on a representative number of patients  
18 age 75 and older since that particular subgroup was  
19 poorly represented and is a large and growing group  
20 of patients who may potentially have this choice;  
21 and at present we don't have enough evidence on the  
22 benefit-to-risk ratio in that patient population.

1 So I would just put that bug in their ear to really  
2 try and focus on that group in ongoing and future  
3 studies.

4 DR. HOFFMAN: Okay. I'll go back to  
5 Dr. Feldman.

6 DR. FELDMAN: Can you hear me now?

7 DR. HOFFMAN: Yes.

8 DR. FELDMAN: Thank you.

9 This is Brad Feldman. I voted yes. I do  
10 think that we need to better characterize the  
11 ocular toxicity and optimize our monitoring and  
12 grading systems and protocols for managing these  
13 patients. But I'm very comfortable and reassured  
14 by the REMS and ETASU proposed by the sponsor, and  
15 with that we can learn together and safely monitor  
16 these patients over time.

17 I believe that the sponsor, the patient  
18 advocates, and the physicians in the public hearing  
19 made a very convincing case that this fills a  
20 critical unmet need and that we can let the  
21 patients decide if they would like to be on this  
22 drug. Thank you.

1 DR. HOFFMAN: Okay. Thanks.

2 Dr. Nowakowski?

3 DR. NOWAKOWSKI: Can you hear me?

4 DR. HOFFMAN: Yes.

5 DR. NOWAKOWSKI: Thanks.

6 This is Greg Nowakowski. I voted yes. I  
7 think we've clearly seen evidence of efficacy both  
8 in terms of overall response rate and also of  
9 duration of response, which is meaningful in this  
10 group of patients with very relapsed/refractory  
11 disease. I think that oncologists are quick  
12 learners. We are used to unusual toxicities with  
13 some of the novel and targeted agents, and I think  
14 the REMS program that was put in place is very  
15 reassuring that the safety of administration of  
16 this drug will be adequate when it is available to  
17 the community. So for these reasons, I supported  
18 the approval.

19 DR. HOFFMAN: Okay. Thank you.

20 Dr. Thanarajasingam?

21 DR. THANARAJASINGAM: Yes. This is Gita  
22 Thanarajasingam. Can you hear me?

1 DR. HOFFMAN: Yes.

2 DR. THANARAJASINGAM: So I voted yes. In a  
3 clinical situation like this where few options  
4 exist, this discussion was an off-the-shelf  
5 antibody drug conjugate, which to me seemed well  
6 tolerated other than this unique ocular toxicity,  
7 which we should acknowledge can be severe in some  
8 cases and may not be mitigable or reversible in all  
9 patients. But the drug is efficacious, and it may  
10 be more tolerable in the perspective of some  
11 patients and physicians than other treatments that  
12 are currently available in this clinical setting.

13 I think it's reasonable to leave open the  
14 option for decision making to patients. They can  
15 express their values and preferences to clinicians  
16 who understand the unique circumstances of each of  
17 their patients. I do think there's adequate,  
18 albeit not complete, information to guide this  
19 risk-benefit discussion, and a REMS program, as my  
20 other colleagues on this ODAC, will help.

21 I hope the sponsor will work with  
22 organizations that represent ophthalmologists to

1 educate them on the need for their involvement and  
2 collaboration in the care of patients on this drug.  
3 It will also be really helpful if the PRO data,  
4 despite its limitations, was made publicly  
5 available such as via the FDA's Project Patient  
6 Voice. This would be very helpful to inform the  
7 risk-benefit discussion between a patient and a  
8 clinician.

9 I strongly encourage the sponsor to ensure  
10 rigorous systematic collection and analysis of PROs  
11 over time in the ongoing clinical studies and in  
12 the postmarketing setting for this drug. Thank  
13 you.

14 DR. HOFFMAN: Thank you.

15 Dr. Uldrick?

16 DR. ULDRICK: Hi. This is Thomas. I voted  
17 yes. Belantamab mafodotin is a targeted,  
18 first-in-class agent with a very interesting  
19 response rate compared to other options for people  
20 who have relapsed multiple myeloma, and in  
21 particular the potential durability of response and  
22 overall survival seen in DREAMM-2 was promising,

1       although it needs to be verified in a randomized  
2       clinical trial.

3               I think that the toxicity, although unique  
4       to this agent, has been characterized adequately to  
5       inform a thoughtful REMS program, and I'm hopeful  
6       that with patient education and great partnerships  
7       between ophthalmologists and treating oncologists  
8       that the risk could be mitigated by early  
9       detection, and follow-up through the REMS program  
10       should further inform this approach.

11               DR. HOFFMAN: Okay. Thank you.

12               Before we adjourn, are there any last  
13       comments from the FDA?

14               DR. GORMLEY: No. This is Nicole Gormley.  
15       Thank you very much to the committee for the  
16       discussion and the comments that were made, and  
17       we'll take this into consideration. Thank you.

18                               **Adjournment**

19               DR. HOFFMAN: Okay. Thank you.

20               There was a question raised at a prior  
21       meeting a few months ago about the way the  
22       materials are presented and were combined from the

1 sponsor and the FDA, and I want to applaud that  
2 method of presentation. I think it is clearer, and  
3 briefer, and very pointed. So I think that was  
4 very well done.

5 So thank you, everyone, for your time today.  
6 We will now adjourn the meeting and see you next  
7 time.

8 (Whereupon, at 4:12 p.m., the meeting was  
9 adjourned.)

10

11

12

13

14

15

16

17

18

19

20

21

22