

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

PHARMACEUTICAL SCIENCE AND
CLINICAL PHARMACOLOGY (PSCP) ADVISORY COMMITTEE

Thursday, September 20, 2018

12:32 p.m. to 3:33 p.m.

Afternoon Session

FDA White Oak Campus
Building 31, the Great Room
10903 New Hampshire Avenue
Silver Spring, Maryland

1 **Meeting Roster**

2 **DESIGNATED FEDERAL OFFICER (Non-Voting)**

3 **Jennifer Shepherd, RPh**

4 Division of Advisory Committee and Consultant
5 Management Office of Executive Programs, CDER, FDA

6
7 **PHARMACEUTICAL SCIENCE AND CLINICAL PHARMACOLOGY**

8 **ADVISORY COMMITTEE MEMBERS (Voting)**

9 **Gregory E. Amidon, PhD**

10 *(Chairperson)*

11 Research Professor of Pharmaceutical Sciences
12 Department of Pharmaceutical Sciences College of
13 Pharmacy
14 University of Michigan
15 Ann Arbor, Michigan

16
17 **Jeffery M. Carrico, PharmD, BCPS**

18 Service Chief, Clinical Pharmacy and
19 Investigational Drug Research
20 Member, Institutional Review Board (IRB) National
21 Institutes of Health (NIH), Clinical Center
22 Bethesda, Maryland

1 **Sandra Finestone, PsyD**

2 *(Consumer Representative)*

3 Executive Director

4 Association of Cancer Patient Educators Irvine,

5 California

6

7 **Tonglei Li, PhD**

8 Allen Chao Chair and Professor, Associate Dean for

9 Graduate Programs

10 Purdue University, College of Pharmacy

11 West Lafayette, Indiana

12

13 **Donald E. Mager, PharmD, PhD**

14 Associate Professor of Pharmaceutical Sciences

15 University of Buffalo, SUNY

16 Department of Pharmaceutical Sciences

17 School of Pharmacy and Pharmaceutical Sciences

18 Buffalo, New York

19

20

21

22

1 **Patricia W. Slattum, PharmD, PhD, GCP**

2 Professor of Pharmacotherapy and Outcomes Science

3 Virginia Commonwealth University

4 Richmond, Virginia

5

6 **Duxin Sun, PhD**

7 Professor

8 University of Michigan College of Pharmacy Ann

9 Arbor, Michigan

10

11 **Andre Terzic, MD, PhD, FAHA**

12 Professor of Medicine and Pharmacology Center For

13 Regenerative Medicine

14 Mayo Clinic

15 Rochester, Minnesota

16

17

18

19

20

21

22

1 **PHARMACEUTICAL SCIENCE AND CLINICAL PHARMACOLOGY**

2 **ADVISORY COMMITTEE MEMBERS (Non-Voting)**

3 **Walid M. Awni, PhD**

4 *(Industry Representative)*

5 Vice President, Clinical Pharmacology and

6 Pharmacometrics

7 AbbVie

8 North Chicago, Illinois

9
10 **Jack A. Cook, PhD**

11 *(Industry Representative)*

12 Vice President, Clinical Pharmacology Global

13 Product Development

14 Pfizer, Inc.

15 Groton, Connecticut

16
17 **Srini Tenjarla, PhD**

18 *(Industry Representative)*

19 Vice President and Head of Global Pharmaceutical

20 Sciences

21 Shire

22 Lexington, Massachusetts

1 **TEMPORARY MEMBERS (Voting)**

2 **Maureen D. Donovan, PhD**

3 Associate Dean for Undergraduate Education
4 Professor, Department of Pharmaceutical Sciences
5 and Experimental Therapeutics
6 College of Pharmacy
7 University of Iowa
8 Iowa City, Iowa

9

10 **James E. Polli, PhD**

11 *(Afternoon Session Only)*

12 Professor and Ralph F. Shangraw/Noxell Endowed
13 Chair in Industrial Pharmacy and Pharmaceutics
14 Department of Pharmaceutical Sciences University of
15 Maryland School of Pharmacy
16 Baltimore, Maryland

17

18 **Paul J. Smith, PhD, MS**

19 Director, Statistics Program Department of
20 Mathematics University of Maryland
21 College Park, Maryland

22

1 **FDA PARTICIPANTS (Non-Voting)**

2 **Michael Kopcha, PhD, RPh**

3 Director

4 Office of Pharmaceutical Quality (OPQ) CDER, FDA

5

6 **Lawrence X. Yu, PhD**

7 Deputy Director OPQ, CDER, FDA

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Session II: Patient Focused Quality	
4	Standards for Extended-Release Solid Oral	
5	Products; In Vitro and In Vivo Relationships	
6	Conflict of Interest Statement	
7	Jennifer Shepherd, RPh	13
8	FDA Presentations	
9	Patient Focused Quality (Dissolution)	
10	Standards for High Solubility Drugs and	
11	Advances in Predictive Dissolution	
12	Technology	
13	Richard Lostritto, PhD	17
14	Establishing the In Vitro-In Vivo	
15	Link for Pharmaceutical Manufacturing and	
16	Quality	
17	Paul Seo, PhD	37
18	Understanding Bioperformance Risk for	
19	Extended-Release Oral Drug Products	
20	Lawrence X. Yu, PhD	54
21		
22		

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C O N T E N T S (continued)

AGENDA ITEM	PAGE
Clarifying Questions	70
Questions to the Committee and Discussion	134
Adjournment	149

P R O C E E D I N G S

(12:32 p.m.)

1
2
3 DR. AMIDON: Good afternoon. I'd first like
4 to remind everyone to please silence your cell
5 phones, and smartphones, and any other devices if
6 you haven't already done so. I'd also like a to
7 identify the FDA press contact, Jeremy Kahn.
8 Jeremy, if you're present, would you stand?

9 My name is Gregory Amidon, and I am the
10 chair of the Pharmaceutical Sciences and Clinical
11 Pharmacology Advisory Committee, and I will now
12 call the afternoon session of the meeting of the
13 Pharmaceutical Sciences and Clinical Pharmacology
14 Advisory Committee to order.

15 I'll start at this point by going around the
16 table and asking each of you to introduce
17 yourselves for the record, and I'm going to start
18 on my right again with Dr. Awni, and we'll carry
19 on. Thank you.

20 DR. AWNI: Walid Awni. I'm an industry
21 representative. I work for AbbVie.

22 DR. COOK: Jack Cook, Pfizer, industrial

1 representative.

2 DR. TENJARLA: Srini Tenjarla, Shire
3 Pharmaceuticals, industry rep.

4 DR. DONOVAN: Maureen Donovan, University of
5 Iowa.

6 DR. SUN: Duxin Sun, University of Michigan.

7 DR. LI: Tonglei Li, professor of
8 pharmaceutical sciences, Purdue University.

9 DR. FINESTONE: Sandra Finestone, consumer
10 representative.

11 DR. MAGER: Don Mager, professor of
12 pharmaceutical sciences, the University of Buffalo.

13 DR. AMIDON: Greg Amidon, professor at the
14 University of Michigan.

15 CDR SHEPHERD: Jennifer shepherd, designated
16 federal officer.

17 DR. CARRICO: Jeff Carrico, NIH.

18 DR. TERZIC: Andre Terzic, Mayo Clinic.

19 DR. SLATTUM: Patty Slattum, Virginia
20 Commonwealth University.

21 DR. SMITH: Paul Smith, University of
22 Maryland, College Park.

1 DR. POLLI: James Polli, University of
2 Maryland, Baltimore.

3 DR. YU: Lawrence Yu, deputy director,
4 Office of Pharmaceutical Quality, CDER, FDA.

5 DR. KOPCHA: Mike Kopcha, director of Office
6 of Pharmaceutical Quality, CDER, FDA.

7 DR. AMIDON: Thank you.

8 For topics such as those that we're going to
9 discuss this afternoon, there are a variety of
10 opinions, some of which are very strongly held.
11 Our goal, again, today is to be a fair and open
12 forum for discussion of these issues, and the
13 individuals can express their views without
14 interruption. So just as a
15 gentle reminder, individuals will be allowed to
16 speak into the record only if they're recognized by
17 the chair. And of course, we look forward to a
18 productive meeting.

19 In the spirit of the Federal Advisory
20 Committee Act and the Government in the Sunshine
21 Act, we ask that the advisory committee members
22 take care that their conversations about the topic

1 at hand take place in the open forum of the
2 meeting. We are aware that members of the media
3 are anxious to speak with the FDA about these
4 proceedings, however, the FDA will refrain from
5 discussing the details of this meeting with the
6 media until its conclusion. Also, the committee is
7 reminded to please refrain from discussing the
8 meeting topic during the breaks this afternoon. So
9 thank you with that

10 I'll now turn this over to Lieutenant
11 Commander Jennifer Shepherd, who will read the
12 Conflict of Interest Statement.

13 **Conflict of Interest Statement**

14 CDR SHEPHERD: Good afternoon. The Food and
15 Drug Administration is convening today's meeting of
16 the Pharmaceutical Science and Clinical
17 Pharmacology Advisory Committee under the authority
18 of the Federal Advisory Committee Act of 1972.
19 With the exception of the industry representatives,
20 all members and temporary voting members of the
21 committee are special government employees or
22 regular federal employees from other agencies and

1 are subject to federal conflict of interest laws
2 and regulations.

3 The following information on the status of
4 this committee's compliance with federal ethics and
5 conflict of interest laws, covered by but not
6 limited to those found at 18 USC, Section 208, is
7 being provided to participants in today's meeting
8 and to the public.

9 FDA has determined that members and
10 temporary voting members of this committee are in
11 compliance with federal ethics and conflict of
12 interest laws. Under 18 USC, Section 208, Congress
13 has authorized FDA to grant waivers to special
14 government employees and regular federal employees
15 who have potential financial conflicts when it is
16 determined that the agency's need for a special
17 government employee's services outweighs his or her
18 potential financial conflict of interest or when
19 the interest of a regular federal employee is not
20 so substantial as to be deemed likely to affect the
21 integrity of the services which the government may
22 expect from the employee.

1 Related to the discussions of today's
2 meeting, members and temporary voting members of
3 this committee have been screened for potential
4 financial conflicts of interest of their own, as
5 well as those imputed to them, including those of
6 their spouses or minor children, and for purposes
7 of 18 USC, Section 208, their employers. These
8 interests may include investments; consulting;
9 expert witness testimony; contracts, grants,
10 CRADAs, teaching, speaking, writing; patents and
11 royalties; and primary employment.

12 Today, the committee will focus on two
13 topics related to the Office of Pharmaceutical
14 Quality's priority of promoting the availability of
15 better medicine. For this afternoon's agenda, the
16 committee will discuss in vitro/in vivo
17 relationship standards and will seek input on
18 establishing patient-focused dissolution standards
19 for oral solid modified-release dosage forms.

20 This is a particular matters meeting during
21 which general issues will be discussed. Based on
22 the agenda for today's meeting and all financial

1 interests reported by the committee members and
2 temporary voting members, no conflict of interest
3 waivers have been issued in connection with this
4 meeting. To ensure transparency, we encourage all
5 standing committee members and temporary voting
6 members to disclose any public statements that they
7 have made concerning the topic at issue.

8 With respect to FDA's invited industry
9 representatives, we would like to disclose that
10 Drs. Walid Awni, Jack Cook, and Srini Tenjarla are
11 participating in this meeting as nonvoting industry
12 representatives, acting on behalf of regulated
13 industry. Their role at this meeting is to
14 represent industry in general and not any
15 particular company. Dr. Awni is employed by
16 AbbVie, Dr. Cook is employed by Pfizer, and Dr.
17 Tenjarla is employed by Shire pharmaceuticals.

18 We would like to remind members and
19 temporary voting members that if the discussions
20 involve any other topics not already on the agenda
21 for which an FDA participant has a personal or
22 imputed financial interest, the participants need

1 to exclude themselves from such involvement, and
2 their exclusion will be noted for the record. FDA
3 encourages all other participants to advise the
4 committee of any financial relationships that they
5 may have regarding the topic that could be affected
6 by the committee's discussions. Thank you.

7 DR. AMIDON: Thank you.

8 We'll now proceed to the FDA presentations
9 beginning with Dr. Lostritto.

10 **FDA Presentation - Richard Lostritto**

11 DR. LOSTRITTO: Good afternoon, everybody.
12 I hope you had a nice, enjoyable lunch. I'm
13 kicking off the session with three speakers today,
14 this afternoon, and here's a little outline of the
15 three talks you will have this afternoon.

16 I'll be talking about patient-focused
17 quality dissolution standards for high solubility
18 drugs and advances in predictive dissolution
19 technology, the former being related to a guidance
20 we recently published in the letter related to some
21 other findings. Paul Seo will be talking about
22 establishing the in vitro/ in vivo link for

1 pharmaceutical manufacturing quality, and Lawrence
2 Yu will be talking about the understanding of
3 bioperformance risk for extended-release, solid
4 oral drug products.

5 Patient-focused quality standards, we can
6 define them as a set of criteria and acceptance
7 ranges to which drug products should conform in
8 order to deliver the therapeutic benefit as in the
9 label. It's two parts to that. So what we're
10 trying to do is come up with an in vitro way to
11 test to assure that the performance in vivo will be
12 there.

13 Patient-focused quality standards can
14 increase flexibility within the pharmaceutical
15 manufacturing sector while maintaining quality by
16 establishing acceptance criteria based on clinical
17 performance instead of process capability or
18 manufacturing process control. And that's
19 important because the dissolution method often
20 serves those purposes as well for QC and so forth,
21 but we try to have it balanced so that it
22 represents what's happening in vivo.

1 Patient-focused quality standards avoid
2 under- or over-discriminating methods and
3 specifications, both of which are contrary to
4 patient needs. So in other words, you try to avoid
5 a dissolution method that shows a very big response
6 to a small change when in vivo that doesn't occur,
7 or vice versa, when it doesn't show a change
8 in vitro, and a very big change occurs in vivo.

9 First, I'll talk a little about a recently
10 published guidance in August of this year on
11 dissolution testing and acceptance criteria for
12 immediate-release solid oral dosage form drug
13 products containing high solubility drugs
14 substances, a nice catchy title. On the right
15 side, we shoot right to the bottom line -- and
16 we'll talk more about it -- for high solubility
17 drugs such as you see in BCS class 1 and 3, a
18 single-point dissolution specification of Q 80
19 percent in 30 minutes; in other words, at least 80
20 percent dissolved in 30 minutes under certain
21 conditions.

22 What are the eligible drug products? Well,

1 first of all, we're talking about immediate
2 release, oral solid dosage forms meant to be
3 swallowed, such as tablets and capsules that
4 contain highly soluble drug substances. So what's
5 highly soluble?

6 Well, to be considered highly soluble, the
7 highest drug product strength should be soluble in
8 250 mLs or less of aqueous media over the pH range
9 of 1 to 6.8 at 37 degrees, inclusive of those pH
10 ranges. In other words, the highest strength
11 divided by 250 should be less than or equal to the
12 lowest solubility over the entire pH range of 1 to
13 6.8

14 Now in cases, in the guidance, it discusses
15 where the highest dose in the label is more than
16 the highest strength. That is an area of
17 discussion with the agency.

18 Chewable tablets are within the scope of
19 this guidance if dissolution studies are conducted
20 on the whole tablet. They can be within the scope;
21 don't have to be. Orally disintegrating tablets,
22 or ODTs, may be within scope if it's shown that

1 there is no significant absorption from the oral
2 cavity, the disintegration requirements for ODTs
3 remain, and all the other criteria are met.

4 Sublingual and other dosage forms intended
5 for absorption from the local action within the
6 oral cavity are out of the scope of the guidance.

7 There are other considerations as well. The
8 guidance does not apply to narrow therapeutic index
9 drugs or NTI drug products because it's a critical
10 relationship between bioavailability, efficacy, and
11 safety, and a very narrow band between effective
12 and toxic dose. If the time to maximum plasma
13 concentration is critical for the intended use, the
14 guidance doesn't apply. For example, rescue
15 medications, rapid analgesia, and so on is out of
16 scope of the guidance.

17 There are manufacturing considerations as
18 well. So we want to make sure that the
19 manufacturing and testing history of the drug
20 product on stability are able to meet these
21 dissolution criteria, so demonstrating the drug
22 product meets the acceptance criteria and the

1 guidance under the standard testing conditions
2 throughout expiry.

3 Also, the excipients have to be consistent
4 in type and amount with the design of an
5 immediate-release drug product. Certain excipients
6 are used primarily to slow down the release rate
7 from the tablet and so forth, or to delay it, and
8 their use would put that product outside the scope
9 of the guidance. Although it seems like there's a
10 lot of things outside the scope, there are a lot of
11 things within them as well.

12 Here is a summary of the standard
13 dissolution testing conditions. The basket method,
14 USP apparatus 1, standard conditions of 100
15 revolutions per minute stirring; 500 mLs of
16 0.1 normal HCl in the aqueous media; no surfactant
17 in the media; and 37 degrees, a standard situation.

18 Likewise, for the paddle method,
19 apparatus 2, a stirring rate of 50; 75 can be
20 justified. If that's justified appropriately, then
21 that condition may be allowed. You should discuss
22 that with the agency. 500 mL of 0.1 normal HCl in

1 the aqueous media; again, no surfactant.

2 The information on the number of units to
3 test and the overall method design, that's in the
4 USP chapter 711 on dissolution. It is acceptable
5 to add a few turns of a wire helix for capsules
6 that may want to float so that they remain fully
7 immersed in the dissolution media. If 900 mL,
8 which is the capacity, is used, that should be
9 justified. That's a fairly large volume if we're
10 trying to become anything near something
11 biorelevant.

12 Besides the recommended 0.1 normal HCl and
13 aqueous media, other dissolution media within the
14 physiological pH range may be acceptable if
15 appropriate justification is provided. When we say
16 appropriate justification, these can be discussed
17 with the agency beforehand.

18 Here's the acceptance criteria as I
19 mentioned up front for this guidance. The
20 dissolution acceptance criteria is at least 80
21 percent dissolved in 30 minutes. And if an
22 alternate acceptance criterion is proposed, also

1 the applicant should provide data to support that.

2 That was based on a relatively low risk of
3 immediate-release, highly soluble drug substances
4 in oral drug products. Traditional dissolution
5 approaches are adequate for this low-risk
6 situation. And I don't know why the number 3
7 appears there with all the eyes that looked at
8 these slides. It should just say BCS class 1 and
9 immediate-release drug products.

10 Patient-focused quality dissolution
11 standards are established for these lower risk
12 products by this guidance, and advances in
13 predictive dissolution methodology and modeling may
14 enable patient-focused quality dissolution
15 standards for other classes and types of drug
16 products, and that's what we're going to move into
17 next in this discussion.

18 So dissolution studies go back to the
19 1960's, and most of the things that I will talk
20 about in terms of summary of the current state, you
21 can find corollaries or evidence for
22 methodologically going back almost that far. So

1 why are they current? Well, work is continuing in
2 these areas in novel ways using more sophisticated
3 technology and more sophisticated computer modeling
4 and so forth. So while it looks like some of the
5 same, there are some new results, some surprising
6 and some new directions being pointed to.

7 Let's go back to initial NDA approval.
8 That's where you link dissolution performance of
9 the clinical trial batches to clinical safety and
10 efficacy. You don't have a lot of product history
11 at the time, and that's usually where dissolution
12 is linked to.

13 For example, in a quality-by-design
14 scenario, where you study the influence of changes
15 and your formulation and your process on the
16 performance of the product, in that scenario, the
17 robustness of dissolution behavior to small changes
18 encountered during manufacturing and over shelf
19 life are known. In other words, the dissolution
20 model used at that time may be able to detect or
21 respond to manufacturing or ingredient quality
22 changes and so forth that could impact product

1 performance. That would be one of the goals.

2 However, usually in initial product
3 approval, a causative and quantitative link of
4 dissolution behavior to absorption and safety and
5 efficacy is often not fully know or even absent.
6 Why is that? Well, there are lots of things in
7 play in addition to the drug development process.
8 The anatomy and the physiology of the GI tract are
9 not fully mimicable by any dissolution method. And
10 the logical tendency is to choose the method that
11 best suits your needs. If you're doing an
12 immediate-release formulation of the capsule, you
13 may choose one type of method. If you're doing a
14 large tablet with a matrix extended release, you
15 may choose another type of method, and so forth.

16 Also BCS class 2 and 4 drugs, which are
17 poorly soluble drugs, are in general more
18 problematic to deal with. And we'll talk about why
19 that is. But in general, it's a solubility limited
20 problem and dissolution limited problem.

21 Also, modified-release products, one of the
22 things we're here to talk about today, are

1 inherently more complex. You have structures to
2 the dosage form, which may be involved in limiting
3 or controlling the release of drug. And there are
4 different ways that that can happen. It can be
5 continuous, and pulsatile, and so forth. It's an
6 inherently more complex beast.

7 In vitro/in vivo correlations and
8 relationships, development, they're very data
9 laden, resource intensive, and albeit, increasingly
10 aided by technological improvements in software and
11 so forth. It can take a lot of time and resources
12 to develop IVIVR and IVIVC.

13 Let's look at some of the contemporary areas
14 of interest. We'll start with more biorelevant in
15 vitro approaches. Simulated fluids to better mimic
16 in a facile and feasible way, the fasted and fed
17 fluids in the stomach, small intestine and the
18 colon, which is a fairly complex milieu of a fluid;
19 the use of USP apparatus 3 and 4 or others to
20 simulate the changing GI environment that flow
21 through cells and so forth -- again, they don't
22 mimic much of the GI system -- or more complex

1 gastrointestinal simulators.

2 So these are more complex test systems to
3 simulate the dynamic physiological processes within
4 the GI tract, and they're usually multi-compartment
5 systems to study dissolution. And one example is a
6 two-phase dissolution system, which I'll show you a
7 little bit more about artificial digestive systems,
8 and so forth. You'll see an example of that.
9 They're rather complicated and perhaps not feasible
10 for so-called everyday or QC use.

11 Other more biorelevant in vitro approaches
12 are informed by an increasing understanding of the
13 intraluminal processes, what's going on near the
14 sites of absorption. Also, inter- and intrasubject
15 variability, how our bodies change with respect to
16 GI function throughout the day, functional disease
17 state within our own body, and between individuals,
18 so inter- and intra variability. It's a big factor
19 which decreases the granularity of dissolution
20 related to absorption and so forth. It makes it
21 more complicated.

22 Now, there have been some advances in

1 computational methods in a couple different areas.
2 One is in computational fluid dynamics. This would
3 be the area that studies what's happening in the
4 liquid media adjacent to the solid dosage form,
5 adjacent to the container that can affect or impact
6 the rate of dissolution. There are also
7 computational methods of a different type to study
8 local processes underlying dissolution transport
9 and absorption within the intestines, including
10 PBPK or physiologically based pharmacokinetic based
11 in silico frameworks. So those are your basic
12 areas where you see a lot of activity.

13 The outcome of these studies may reduce and
14 simplify all drug product testing while
15 significantly reducing regulatory requirements.
16 That probably should be a goal statement, but that
17 would be a desirable outcome.

18 Aspiration and motility studies in healthy
19 volunteers, these are the main population of
20 bioequivalence or BE studies, looking at the GI and
21 plasma concentration. These aspiration studies are
22 semi-invasive, but you're measuring directly in

1 there, the intubation.

2 In this one example, the researchers looked
3 at the GI and plasma concentrations of ibuprofen,
4 which is a weakly [ph] acidic drug, and after oral
5 administration, they used immediate-release
6 ibuprofen tablets, USP 800 milligrams, and they
7 measured various fluid compartments over time,
8 including the plasma. They had a surprising
9 finding of high levels of ibuprofen in the stomach
10 and small intestine 7 hours post-dosing. And that
11 was unexpected because you wouldn't have expected
12 it to be still in those fluids at that time.

13 Their determination -- and this is
14 2017 -- is that future work is needed to better
15 understand the role of various GI parameters such
16 as motility, moving along the GI tract, which that
17 actually goes back and forth with a net movement
18 forth; gastric emptying, which again is not a
19 consistent or time thing, and there are various
20 factors that affect gastric emptying, the volume
21 and so forth; and all these effects on systemic
22 ibuprofen levels in order to improve the in vitro

1 predictive model. You're trying to predict from in
2 vitro what's happening in vivo.

3 Magnetic resonance imaging is noninvasive.
4 This is an MRI image taken of the individual while
5 they are undergoing absorption in normal GI tract
6 function. Additional insights into the contractile
7 events or the motility events along the GI tract
8 are going to be explored this coming year using MRI
9 studies. It's kind of exciting because, like I
10 said, it's noninvasive. You get a more natural
11 view of what's going on. It's being used to
12 quantify the time courses of the volumes of freely
13 mobile fluid in the stomach, small intestine, the
14 bowel, et cetera, and correlate that GI motility.

15 The current work is cross validating MRI
16 small bowel motility protocol with one used
17 previously at the University of Michigan. And the
18 aim is to show that invasive methods can be better
19 replaced by noninvasive MRI methods. And that
20 actually is something that is novel and feasible as
21 well. So that's going to be some interesting work
22 to follow.

1 Here are some examples. This is a
2 gastrointestinal simulator. I just show the
3 pictures to see that, first of all, it is rather
4 complicated, a series of beakers and pumps and so
5 forth in a temperature-controlled bath, which can
6 be controlled in terms of flow rate and time and so
7 forth. But it doesn't really look much like
8 anything inside the human body. So just looking at
9 it, it's going to have some limitations. But it is
10 an attempt to approximate various GI functions.

11 In other work similar to this,
12 polydimethylsiloxane, or silicone membranes, were
13 used successfully to mimic the GI absorption
14 process, so that was interesting as well.

15 Here's an example of how computational fluid
16 dynamics can be used. And you can see, starting
17 from left to right, the sheer profiles that are
18 imaged and the color of the image showing you the
19 type of sheer profile going on, turbulent, laminar,
20 convective, and so on. This gives a better view of
21 what's happening inside the dissolution vessel.

22 At first, that may seem rather

1 straightforward because if you look at the image on
2 the far right, that's your USP apparatus, vessel,
3 too, but you can see from looking at the images
4 that the hydrodynamics are far from uniform and not
5 very straightforward in terms of -- it would be
6 very difficult to do this without computational
7 methods.

8 So they provide a window as to what is the
9 in vitro device doing? How is that behaving? If
10 we're going to be able to try and correlate that to
11 what's happening in the human body, we have to
12 certainly understand what's happening here in any
13 in vitro system. So this is an important step to
14 understanding that.

15 We've all heard the scene about the problems
16 with a tablet that settles in a particular spot in
17 the vessel, and it behaves differently than the
18 adjacent vessel where tablets settle a little bit
19 differently, and so on. This is an attempt to
20 understand that and hopefully lead to more uniform
21 or controllable hydrodynamics.

22 This is a two-phase dissolution system.

1 Here, you have an oil phase floating on top of an
2 aqueous phase, and the aqueous phase is in that
3 light blue color at the bottom in this one vessel.
4 Both of those phases are stirred, and they can be
5 stirred and sampled independently. So you can have
6 two different stirring rates. They can even be
7 stirred in opposite directions in some cases, and
8 you can sample from either compartment.

9 In the aqueous phase, you can control the
10 volume, the pH, the tenacity to tonicity,
11 et cetera. And likewise, you can control the
12 species of the oil used, the volume, the geometry
13 of the interface, and so on. So it's quite a
14 variable system. And why would somebody want to
15 use something like this? It's obviously more
16 complicated than a single-phase media.

17 Well, some of the pros are that the oil
18 layer on top provides a sink for hydrophobic or
19 low-solubility drugs, and poor solubility plagues
20 dissolution methodology development. Usually
21 people resort to surfactants. There are a few
22 things less physiologically relevant than that.

1 So this is a way of providing a hydrophobic
2 sink or a mechanism, or a way to -- I should say
3 not a mechanism, but a means to estimate absorption
4 by having an oil layer represent the lumen. But it
5 certainly can provide a sink. It's relatively low
6 tech, and if you judiciously choose the oil phase,
7 and it's amount, and so forth, you may be able to
8 mimic or estimate oral absorption.

9 Now, what are some of the cons against it?
10 Well, it's far from standardized. And I think in
11 that respect, it's a victim of its own flexibility
12 as an approach. Every single paper seems to use a
13 different type of approach to address this problem.
14 There is a substantive use of organic solvents in
15 most cases. In some cases, there are low-volume
16 systems that show some promise, but that's not as
17 green as we would like.

18 In situ media changes are cumbersome. If
19 you want to be able to change the media during the
20 course of dissolution run, it's more complicated in
21 this system compared to a single-phase system.

22 Here's an example. Three different

1 apparatus variations were looked at by this
2 researcher. They used multiple-phase volumes from
3 152 to 50 mL. They tried different stirring rates
4 of the two different phases, different pH ranges,
5 and so forth. The drugs they looked at, they tried
6 multiple strengths.

7 They were able to actually correlate their
8 in vitro results to reflect in vivo absorption
9 kinetics. So in that particular study, by
10 researching out what of these factors may have been
11 important, they were able to at least get a rank
12 ordering. By scaling, I mean rank ordering. They
13 weren't saying if you got this rate of dissolution,
14 you were getting that rate of absorption. No.
15 They were saying there were rank ordering
16 formulations in vitro that correlated with
17 absorption in vivo.

18 In summary, predictive dissolution outcomes
19 will more likely succeed through novel and
20 multidisciplinary and collaborative approaches.
21 You have the computational approach with fluid
22 dynamics and PBPK, the in vitro approach with

1 gastrointestinal simulators, two-phase systems and
2 others, and in vivo approaches, noninvasive in vivo
3 approaches, where we're looking at the physiology
4 of absorption more closely through magnetic
5 resonance imaging.

6 That is it for me. Paul, you're up.

7 Thank you very much. I appreciate your
8 attention.

9 **FDA Presentation - Paul Seo**

10 DR. SEO: Good afternoon. My name is Paul
11 Seo. I'm the director of the Division of
12 Biopharmaceutics in the Office of New Drug
13 Products. First off, I'd like to thank the
14 committee for convening today to provide guidance
15 on the topic of in vitro/ in vivo, the link in the
16 arena of quality.

17 In the Division of Biopharmaceutics, we're
18 responsible primarily for new drug and generic drug
19 assessment with regards to dissolution testing.
20 And I'm here to provide you a high-level overview
21 of where we've been, where we're currently are, and
22 where we're attempting to go. And hopefully that

1 provides some information for you to help the
2 discussions move along.

3 Rick mentioned this a little bit, and I'd
4 like to dovetail onto that, which is
5 patient-focused quality standards. To define it,
6 patient-focused quality standards ensures that the
7 delivery of the intended dose of drug to the site
8 of action -- or is it available to the
9 physiological system that is the patient, to ensure
10 consistent safety and efficacy for the marketed
11 product relative to those achieved by the clinical
12 trial formulation.

13 Or put it another way, we are ensuring that
14 the product that makes it on the market, that makes
15 it on the shelf, is essentially the same as the
16 product that underwent clinical trials and all the
17 robust testing during development, precisely what
18 Mike had mentioned this morning with regards to
19 ensuring the quality of the next dose.

20 This is signified by test methods and
21 acceptance criteria that are able to identify and
22 reject drug product batches that are likely to

1 perform inadequately. What we're talking about is
2 bioequivalence, and ultimately that's the goal of
3 quality specification.

4 That being said, one of the primary test
5 that we use in the quality arena is the dissolution
6 test, which as you can tell, again, by the
7 presentation that Rick just gave, dissolution
8 testing is a relatively straightforward test, it's
9 easy to understand, and that's one of the
10 strengths, and that it's very well characterized.

11 I like to refer to it as the little engine
12 that could, primarily because of the
13 straightforwardness, but we ask a lot of the test.
14 It's used in a variety of areas, both in
15 pharmaceutical development, perhaps the stability
16 studies and sending expiry dates, biowaivers
17 related to both within a product line for different
18 strengths or even scale-up and post-approval
19 changes.

20 Interchangeability evaluation, which is a
21 big deal, especially in the generics arena, routine
22 QC testing to see if your process is under control

1 and for batch release. It's also used for a
2 variety of dosage forms, solid orals, whether it be
3 tablet, capsules, or powders, as well as inserts
4 and implants and suspensions and what have you.

5 We're talking a lot about in vitro/in vivo
6 relationships, but one of the primary ways that we
7 link the in vitro data to the in vivo data is
8 through IVIVC or in vivo/in vitro correlations.
9 IVIVC in a nutshell, the objective of IVIVC is to
10 establish a predictive mathematical model to
11 describe the relationship between an in vitro
12 property and in vivo response.

13 Primarily for the agency, what that boils
14 down to is in vitro data, the dissolution test
15 being typically what we see, being mathematically
16 correlated to PK data. And both of these are
17 actually surrogates to safety and efficacy.

18 The reason why IVIVC is important, and we've
19 actually at the agency have been recommending it
20 for so long, is because in vitro release tests
21 could actually replace the needs for in vivo PK
22 data; that is biowaivers. This actually, from an

1 ethical standpoint, minimizes the need for
2 unnecessary human testing. It decreases the
3 regulatory burden because once the IVIVC is
4 validated and approved, it's a much easier thing to
5 consistently look at in vitro data versus looking
6 at a new PK study. This maximizes both regulatory
7 and industry flexibility. For example, it allows
8 many times for wider specifications to keep the
9 product on the shelf.

10 So the IVIVC guidance is now approximately
11 slightly more than 20 years old. It's been around
12 since '97, and we have recommended its use for that
13 amount of time. At the time the guidance was
14 developed, it was based on sound science. And
15 after doing an internal assessment, since 2008, the
16 agency has received approximately 58 IVIVCs. And
17 I'm only speaking in the new drug innovator space.
18 There are a handful of IVIVCs also that have been
19 received in the generic, although not quite as
20 much. Within those IVIVCs, most of them have been
21 for solid oral dosage forms at around 74 percent.

22 So the question really arises, why don't we

1 see more IVIVCs? It's important, it's relevant,
2 but over the last 20 years, 50 some odd IVIVCs
3 isn't a lot. It's possibly due to the fact that
4 IVIVC is often seen as difficult. There are low
5 acceptance rates. Out of those that the agency
6 received, approximately 40 percent were found
7 acceptable, and the other 60 percent were not
8 acceptable or rejected.

9 From an industry perspective, from what I
10 hear, there are resource barriers both in
11 knowledge, cost, and time. And of course, there
12 are the ethical considerations of why put undue
13 necessary human studies when you don't have to,
14 especially if you have all that clinical data up
15 front?

16 Last but not least, IVIVC is often seen as
17 an all or nothing approach. You put all this
18 investment forward, but at the end of the day, if
19 it's not approved, all of those resources are now
20 wasted. There's no way to really salvage it.

21 Some of the common reasons that we've seen
22 IVIVCs be unsuccessful are that the traditional

1 dissolution methods that they used to develop the
2 model were not sensitive; that is, there may
3 actually be a need for the dissolution test to be
4 slightly more physiologically relevant. A lot of
5 times, the dissolution tests that are received in
6 IVIVCs are very straightforward, 900 mL, apparatus
7 to vessel, that kind of thing.

8 Also, formulation variants don't always
9 provide adequate change in release profile.
10 They're just too similar. It's evident by the
11 F2 [ph] profile, which is a metric to compare
12 profiles to see how close they are. Sometimes the
13 formulation variants that are submitted, they're
14 not appropriate. For example, there are entire
15 substitutions or release-controlling excipients,
16 and that invalidates the model.

17 One of the things we also see is there's a
18 lack of a priori planning of the IVIVC. A lot of
19 times, the most successful cases are those cases
20 where we've seen where the company or the sponsor
21 has created and planned for the IVIVC up front.
22 They've planned around those clinical studies, and

1 planned around those formulation variants, and
2 incorporated that into their phase 1-2-3 trials.
3 For those that have been unsuccessful, it's because
4 from what we see, the data is, some of it's there,
5 and they try to piece something together to try to
6 attempt an IVIVC.

7 In the biopharmaceutics discipline, how are
8 we going about establishing in vitro/in vivo
9 relationships if IVIVC aren't really performing and
10 we're not accepting them at this reasonable rate?
11 Well, we are leveraging the clinical data that we
12 already have, and we also have the in vitro data as
13 part of that reassessment.

14 Sometimes that link is made through an
15 IVIVC, but often times than not, what we don't
16 receive is computational modeling. And that's the
17 piece that is missing, at least from our discipline
18 perspective, and that's what we're really moving
19 towards and attempting in many of the new drug and
20 generic drug arena.

21 With this emphasis on in silico modeling, I
22 think it's important to understand that, really,

1 modeling is a tool. And there's a saying in the
2 modeling world, "fit for use or fit for purpose."
3 You have to define the problem and kind of work
4 backwards.

5 So it's not particular to pharmaceuticals or
6 pharmaceutical R&D. The modeling is used in
7 engineering, physics, quantum mechanics,
8 entertainment arena, and what have you. But really
9 what it boils down to is a simplification of the
10 process where you distill down the most interesting
11 or most relevant parameters, and you try to model
12 that using a set of criteria, typically
13 mathematical equations. You use the computer to
14 take those mathematical equations and get an
15 output, visualize it, validate and verify, and then
16 you kind of go in a circle and you reassess and
17 refine.

18 So based on that, there's something called,
19 that we've been referring to today, physiologically
20 based pharmacokinetic modeling or PBPK for short.
21 PBPK is a mathematical framework of differential
22 equations describing the anatomical compartments,

1 for example, the organs and tissues. And it's not
2 unlike PKPD modeling, which many of you are
3 probably already familiar with. But the main
4 difference in PBPK is that it takes more of a
5 mechanistic approach and parameterizes many of the
6 different variables, and incorporates that into the
7 model.

8 Conceptually, PBPK has been around for quite
9 some time, since the late '60s, early '70s. But
10 only recently has it really gained traction, at
11 least at the agency, due to the technological
12 advancements both from a CPU kind of processing
13 power standpoint, but also due to the fact that
14 there is commercially available software, and that
15 really empowers many different companies and users.

16 The interesting thing about PBPK in general,
17 and perhaps why we've seen such an uptick in its
18 interest, is it aligns with PDUFA 7 with regards to
19 MIDD or advancing model-informed drug development.
20 PBPK has a variety of uses and purposes, and those
21 vary depending on the office or area you're looking
22 at within the agency. The Office of Clinical

1 Pharmacology uses it, the Office of Generic Drug
2 uses it, and now the Office of Pharmaceutical
3 Quality uses it specifically in the
4 biopharmaceutics area. Again, we use it for a
5 variety of means, but we have started to share the
6 knowledge base and experience we've each gained and
7 starting to collaborate to make for a better model.

8 So PBPK in biopharmaceutics, or what we've
9 coined PBBP because of the specific use of PBPK for
10 quality parameters, it's a risk-based approach
11 where we leverage the risk to the patient, the
12 total knowledge base of the data and totality to
13 determine whether the PBBP is acceptable or not.

14 Typically, what we see is for the lower-risk
15 products, the models have been found successful.
16 Lower risk is generally where we have a larger
17 data set and a larger understanding of the process
18 of the substance or more straightforward parameters
19 such as particle size or batch-release acceptance
20 criteria.

21 The higher risk models generally involve a
22 larger set of more unknowns and has a larger impact

1 because the model may be more pivotal; for example,
2 a fallen biowaiver related to a SUPAC level change
3 or something of that nature.

4 So far, since 2009, we have 29 NDA
5 submissions involving PBPK to support
6 biopharmaceutics. They have been increasing, but
7 limited numbers of ANDAs are also submitting this
8 information as well. Of the 29 NDA submissions
9 that we looked at, 75 percent of the PBBP models
10 were found acceptable from the discipline
11 perspective. The takeaway there is 75 percent is a
12 dramatic difference versus the 40 percent of
13 acceptance rate for an IVIVC. And it's important
14 to note that those models were actually added as
15 supportive data to make the biopharmaceutics
16 assessment.

17 Some of the use cases of PBPK in general
18 throughout the agency, again, depending on the
19 area, whether it's clin-pharm and generics or
20 biopharm. We've seen it used for effect of food;
21 effect of gastric pH; BCS classification;
22 supporting data; special population assessment;

1 general risk assessment; IVIVRs; particle size
2 distribution setting; and the most frequent use in
3 the biopharm arena would be the dissolution method
4 or acceptance criteria justification.

5 So drilling down to some specific case
6 examples, with regards to the dissolution method,
7 we have seen the model successfully justify a
8 biorelevant method as well as the discriminating
9 capability or lack thereof. Using it, we've been
10 able to wind specifications but ultimately allow
11 for the ability of the dissolution method to reject
12 a non-BE batch, again, which is the goal of
13 dissolution testing from a quality standpoint.

14 One of the other areas we've seen in silico
15 modeling be successful is biorelevant
16 specifications of CMAs and CPPs, which were
17 discussed this morning, which are critical material
18 attributes and process parameters. They were used
19 to justify specifications such as particle size or
20 polymorphic form, and process parameters such as
21 milling method or pressure and force hardness and
22 dwell time of the tablet punch, and of course in

1 SUPAC and risk assessment.

2 For example, a SUPAC level 3 change, which
3 would require a bioequivalence study, we were able
4 to waive using a previously established PBPK model.
5 And again, the totality of data was used. In
6 addition to that model, there was a balance of the
7 other quality parameters, the clinical data, the
8 dosage form, and the general risk to the patient.

9 What are some of the challenges we have seen
10 so far? What happens when an application or
11 dossier does not link that in vivo data to the
12 quality data? If there's an understanding of the
13 impact of the quality attribute on the in vivo
14 performance being necessary for the benefit-risk
15 assessment of the assessor, then an information
16 request may be issued, and that is becoming more
17 and more common.

18 We generally have cam [ph] [indiscernible]
19 language that we send out because of this, and it's
20 sent out at all stages of the IND, typically end of
21 phase 2, phase 3. And it has been starting to go
22 out for ANDAs as well.

1 Without it, we have to rely on quality
2 parameters at hand and more of a traditional
3 pharmaceuticals approach, which is really just
4 ensuring batch-to-batch consistency and sameness.
5 This is often seen as regulatory inflexibility
6 because we have to use traditional pharmaceuticals so
7 to speak and set specifications very tight without
8 that insurance or link to the in vivo data.

9 One of the other things that we commonly see
10 are two drug products or two comparisons may
11 exhibit bioequivalence or relatively similar
12 bioavailabilities, yet they show a difference in
13 in vitro release characteristics.

14 The problem with that is a lot of times, QC
15 methods are set under these circumstances, which
16 may or may not be a big deal depending on who you
17 talk to you. But the problem is that many of these
18 same QC methods are used for batch release, and
19 releasing the product as well as these FARs are out
20 of spec, field alert and reports. The other issue
21 is the same QC methods are now being used for
22 biowaiver purposes and supporting post-approval

1 changes.

2 There is also functional and logistical
3 challenges. Modeling is not an easy thing.
4 They're very complex. In addition to that, not
5 everyone at the agency is a modeler. So there's a
6 training piece where our folks have to be trained
7 properly for consistency sake. The cross program
8 nature of our group, in OPQ, there's both PDUFA and
9 GDUFA, and managing those, as they're dramatically
10 different in the regulations and the approaches,
11 and most importantly in my opinion, the timelines
12 and deliverables. So that proves a logistical
13 challenge as well.

14 From what we see, there's a reluctance to
15 attempt modeling up front or to submit early
16 development data, which may indicate that modeling
17 is a possibility. The other issue is modeling with
18 regards to PBPK is very application specific.
19 Although we see in biopharmaceuticals both innovator
20 and generic drug data, not a lot of that
21 information translates from one application to
22 another; one, for legal reasons; but two, because

1 of the product being so specific and the model
2 being so specific to that product.

3 There are software limitations. There's a
4 wide array of software available depending on the
5 company we see and the company's experience. We
6 see different uses of different software. The ease
7 of training and use varies depending on the
8 software, and the data handling capabilities also
9 vary.

10 Hopefully, I've painted a picture and gave
11 you enough information to help move the
12 conversation along. In conclusion, patient-focused
13 quality standards are so far an evolving thought
14 process and should be agile and flexible. From
15 what we've seen, it provides for a high level of
16 manufacturing flexibility as well as regulatory
17 flexibility. And although challenging, in silico
18 modeling is a promising tool in our space to
19 support not just dissolution but patient-focused
20 quality specifications.

21 Finally, NDAs and ANDAs conceptually and
22 scientifically may be similar, but execution of the

1 model may actually end up being different due to
2 the programmatic differences.

3 With that, Dr. Yu.

4 **FDA Presentation - Lawrence Yu**

5 DR. YU: Good afternoon, everyone. Thanks,
6 Paul.

7 Rick gave us an update of the latest issue
8 of the guidance for the immediate-release dosage
9 form, highly soluble drugs, which we typically see
10 in BCS class 1 and class 3 drugs. Rick also gave
11 us an update in advances in dissolution apparatus,
12 understanding in vivo physiology. And Paul gave us
13 an update about advances in PBPK modeling and the
14 two [indiscernible] here.

15 I'm going to talk with you regarding the
16 bioperformance risk for extended-release dosage
17 forms, and I'm hoping to make a case why we put so
18 much attention and why we want to focus today on
19 this extended-release dosage form because of the
20 significant risk which the agency is facing today
21 and the consumer is facing as well.

22 I use very similar slides from Paul, but

1 essentially it is biopharmaceutics links and
2 product quality to in vivo performance. Many of us
3 know that during the product development or drug
4 development, typically we go through the phase 1,
5 phase 2, and phase 3 clinical studies. Subsequent
6 to approval, the manufacturer continues to
7 manufacture the product put on the marketing place,
8 and those products will now go through
9 sophisticated, expensive clinical studies.

10 One of the two [indiscernible] we used is to
11 ensure those products are still performing the same
12 as the clinical material, and one significant test
13 is in vitro dissolution. The significance of this
14 test is part of the reason the agency puts so much
15 attention on this very unique test. And in Paul's
16 presentation, a significant point is that this
17 in vitro dissolution test could be utilized in
18 product development, could be utilized in product
19 releasing, and could be utilized for biowaiver and
20 the regulatory standard establishment.

21 So this is one simple test and has multiple
22 utilities and multiple uses here. That gives

1 additional challenges opportunity provided to us.

2 With respect to risk, I use two specific
3 cases, not exactly dissolution, but significantly
4 involves a significant in vitro dissolution. First
5 is vancomycin. Some of you were probably involved
6 in the early 2000s, probably 2007 or 2004, the
7 agency recommended in order to show vancomycin to
8 be what we call bioequivalent, the sponsor has to
9 use clinical method to show the equivalence.

10 Even though we do our method, it is clearly
11 not practical based on the calculation from our
12 statistician. In order to show vancomycin to be
13 clinically equivalent, they have to recall every
14 single patient in the United States, which is not
15 practical. It's not useful anyway. So therefore,
16 despite the fact the agency, FDA, does have a
17 method, practically there are no generics.

18 In the middle of 2000s, 2005-2006, agency
19 was working hard at developing the in vitro method,
20 which is we in here call option 1, in vitro option.
21 Then you come back to how much risk is faced. When
22 we require similar formulation for product

1 dissolution, we have to feel confident that in vivo
2 bioequivalence risk is very low.

3 Of course, the agency went through many
4 challenges here, through the public advisory
5 committee meetings. Eventually, the Office of
6 Generics approved generic vancomycin for the
7 benefit of the patients, and certainly the patients
8 have used the generics happily and safely. So you
9 can see when we develop a method, it significantly
10 shows the risk we face.

11 I want to use another case, which does not
12 absolutely relate to in vivo/in vitro relationship,
13 but has an in vivo/in vitro relationship been
14 established, certainly that risk could have been
15 minimized. This is [indiscernible] a risk because
16 this product was approved in 2006 and eventually
17 withdrawn because non-equivalence in vivo.

18 This shows the risk we're facing that we
19 call a bioperformance risk, which we discussed here
20 today, but I will talk today specifically to focus
21 on extended-release dosage form.

22 When we focus on the bioperformance risk,

1 this traces back what happened when patients take a
2 solid oral dosage form or dosage product. When a
3 tablet or capsule is administered, when a patient
4 takes the capsule and tablet, those solid dosage
5 form products will disintegrate and dissolve in
6 vivo, in the stomach to start with.

7 Dissolved and undissolved drugs will be
8 emptied from stomach and come to the small
9 intestine, which the transfer graduates from the
10 duodenum, jejunum, and ileum. Then the period of
11 transformation, roughly 3 hours, the drug continues
12 to dissolve, and absorption occurs. The drug
13 crosses the intestinal membrane and goes through
14 the liver, and eventually leads to the systemic
15 circulation and produce therapeutic benefit.

16 When you look at it in vivo oral drug
17 absorption, it sounds very complex because there's
18 multiple factors involved here. But we do have one
19 very well known scientist, Professor Gordon Brown
20 from the University of Michigan [indiscernible].
21 In the early '90s, he published a paper and
22 research in '95. He established biopharmaceutics

1 classification system. In other words, despite the
2 complexity of in vivo oral drug absorption, he
3 proposed to use two simple parameters to classify
4 drugs. One is solubility and the second is
5 permeability.

6 In the initial '90s, there were many
7 follow-up discussions and of course a lot of
8 controversy in the scientific literature. Now this
9 biopharmaceutic system has been commonly used in
10 drug development. Also, I cannot remember how many
11 guidances at FDA utilized this system for
12 dissolution, bioavailability, bioequivalence, and
13 even multiple establishment of the quality
14 guidance. I know our polymorphic guidance even
15 uses this system as a guidance. So impact is
16 hugely significant.

17 When we use this BCS classification system
18 applied to establish regulatory standards for
19 dissolution, first we need to understand
20 bioperformance risk. If you look at BCS class 1
21 and class 3 drugs, basically BCS class 1 and class
22 drugs are highly soluble. A very simple term, when

1 patient takes those medicine or this product, it
2 disintegrate and dissolve rapidly in vivo.

3 For those products, the in vivo
4 bioequivalence or bioperformance risk is relatively
5 low unless there's a significant impact by
6 excipients and so on and so forth. Therefore, last
7 year, we extended biowaiver guidance. To give you
8 a historic background, the classification system
9 was established in '95. The agency issued
10 biowaiver guidance in 2000 for highly soluble,
11 highly permeable drugs.

12 Last year, we revised the guidance and
13 extended this biowaiver from highly soluble, highly
14 permeable, highly soluble and poorly permeable as
15 well, which could be BCS class 1 and class 3 drugs.
16 This year, just last month, we finalized guidance,
17 which is discussed by Dr. Rick Lostritto, that
18 specifically for BCS class 1 class drugs, as long
19 as dissolution has dissolved 30 minutes more than
20 80 percent, we automatically accept this.

21 So even though we did not show you the in
22 vivo/ in vitro correlation or relationships, in a

1 way it implicitly suggests there's relationship.
2 But what that means is as long as you dissolve more
3 than 80 percent in 30 minutes, the bioperformance
4 risk in vivo is relatively low. In other words,
5 there will bioequivalence. In other words, they
6 will show similar safety and efficacy for those
7 medicines.

8 In a nutshell, in general -- and I'll make a
9 blank statement here -- bioperformance risk for BCS
10 class 1 and class 3 drugs, the immediate-release
11 oral drug product is relatively low or very low.
12 Of course, you have to [indiscernible]. For
13 example, you have to say these non-NTA drugs,
14 [indiscernible], will disintegrate. You also make
15 sure there's common sense that excipient does not
16 impact. If you give a lot of [indiscernible] in
17 the excipients, which is speeded up in transient
18 time, certainly the impact will be significant.

19 In general, we can make a statement, for BCS
20 class 1 and class 3 drugs, as long as it's not NTA
21 drugs, as long as it's the older condition, which
22 in Rick's presentation met the risk for

1 bioequivalence, in other words, the risk for
2 bioperformance is relatively low. So the agency
3 feels that for BCS class 1 and class 3 drugs, we
4 have a good handle and good control about
5 bioperformance risk.

6 Now we come to BCS class 2 and class 4
7 drugs, which are poorly soluble. When we talk of
8 poorly soluble for all the other clinicians, what
9 that means is for those drugs, the disintegration
10 and dissolution in vivo may be slow. It may take a
11 very long time. And there's a possibility it will
12 not dissolve in vivo during the time of going
13 through the GI intestinal tract, which roughly is
14 in the small intestine for 3 hours and colon
15 roughly 30 hours.

16 For those things, in theory, you could
17 establish an in vivo/in vitro relationship, but
18 because of the [indiscernible] issue, you say, well
19 you have dissolution control, and therefore, you
20 could have an in vivo/in vitro relationship. But
21 in reality, it's not easy to establish because very
22 few companies and sponsors actually attempt to do

1 them and some companies didn't do it. But the
2 percentage established relationship for BCS class 2
3 and class 4 drugs are relatively low.

4 But the point I want to make is we recognize
5 for BCS class 2 and class 4 drugs, the established
6 in vitro/in vivo relationship, the chance is very
7 low. However, typical formulation -- I just want
8 to take the special cases we're probably not going
9 to cover. In typical immediate-release dosage
10 form, we have a good understanding when you take a
11 tablet, how the disintegration becomes
12 granule [indiscernible], and when it's granule, the
13 drug particles eventually dissolve.

14 So the mechanism of a drug disintegration
15 and drug release, dissolution in vivo is reasonably
16 well understood. There's a reasonably good
17 understanding in vivo, therefore, we have
18 reasonable good control, not only just dissolution
19 but drug substance, particle size control, and drug
20 substance polymorphic control, and many other
21 controls we potentially put in place.

22 One example in short, the bottom line is

1 that, frankly, this is one of the typical NTI drug,
2 digoxin. When we use computer modeling, we pretty
3 much can predict in vivo absorption based on
4 particle size. In other words, what I want to say
5 is for BCS class 2 and class 4 drugs, despite the
6 fact that maybe the in vivo/ in vitro relationship
7 is difficult to establish, because the CMC quality
8 control is in place, plus dissolution in
9 place -- we at least showed disintegration, and we
10 have control -- bioperformance risk for BCS class 2
11 and class 4 drugs, those immediately solid oral
12 drug products are relatively low or media.

13 Of course, I put a medium hint because if
14 you do not understand what is going on, if you do
15 not have good control of polymorphic form, if you
16 do not have good control of [indiscernible],
17 amorphous material or stuff like that, this could
18 reasonably become high. But in general, and if
19 you're confident that for BCS class 2 drugs, those
20 are the immediate-release dosage form in vitro
21 dissolution plus same controls, we feel confident
22 in the quality of those products. We feel

1 confident that the marketing place leaves them in
2 good shape.

3 That's part of the reason today's
4 discussion, when need your advice and we need your
5 input focused on extended-release dosage form.

6 Now, Paul mentioned about this specific
7 guidance issue 20 years ago in September 1997. So
8 of course, the agency, we want to keep them updated
9 and revise the guidance to fit our current needs.
10 This guidance basically establishes level A, B, and
11 C. Different level would require different
12 expectations.

13 Level A basically points to relationship
14 between in vitro, dissolution, in vivo, and the
15 level B is basically a statistical moment analysis.
16 Level C is some kind of single-point analysis. For
17 example, maximal, the percentage of drug dissolved
18 in a given time, two of the main PK parameters, as
19 such as AUC, Cmax, or Tmax.

20 Paul mentioned in his talk the issue on the
21 PDUFA side, with new drug side, roughly 58
22 applications were involved here. Some of them get

1 FDA approval. But in general, the benefit of
2 in vivo and relationship has not been fully
3 utilized because of multiple challenges, which
4 scientists are facing today.

5 As always, the in vitro dissolution is a
6 very significant and important even for product
7 development. I'll just give you one slide to show
8 by design arena. We wanted to have an in vitro
9 dissolution test to understand the impact of
10 clinical material attributes or critical process
11 parameters, and the CMC development.

12 During the product development, if we do not
13 have reliable in vitro dissolution, in other words,
14 we do not have a test for what they're testing for,
15 it's incredibly difficult for us to establish what
16 are the critical material attributes, what are the
17 critical process parameters to control the process.

18 So therefore, without significant,
19 predictive dissolution established, it's a
20 challenge to ensure the quality in the marketplace.

21 In my mind, by performance risk for extended
22 release, solid oral drug product without IVIVR is

1 medium or even high. Of course, I want to
2 specifically say because it depends on the
3 mechanism of the drug release. And somebody
4 probably says, for example, metric system is simple
5 dosage form, we could have good control.

6 I agree, but if it's a complex
7 extended-release dosage form, the bioperformance
8 risk in vivo is a high. That's part of the reason,
9 as we discuss here, we need you on how do we move
10 forward. I cannot emphasize enough because those
11 regs are established and we do have some challenge
12 we've faced in the past, we recognize the
13 challenge.

14 We now say, well, we will require you to do
15 IVIVR without recognizing the challenge and without
16 recognizing the difficulty we're facing. The
17 challenge we're facing here is the factors that
18 affect in vitro dissolution is not well understood,
19 well controlled. And in Dr. Lostritto's talk, you
20 can see there's mod apparatus [indiscernible],
21 whether it's two-phase, single-phase, or
22 complexity. And none of them probably really mimic

1 in vivo exactly.

2 Number two, the fact is that in vivo
3 dissolution is not a well understood. We recognize
4 there's lately some publication out there, but
5 really, there are few studies to show how the drug
6 is released in vivo. We have tons of data on in
7 vitro release, but we have very few data about
8 in vivo drug release.

9 In order for us to move forward, we do need
10 to establish in vivo drug release so we have a
11 better understanding and when we know the target.
12 When we understand the target, we can design better
13 in vitro tools. If we do not target, certainly
14 we're blind. When you're blind, certainly it
15 depends on how lucky you are with the sunshine or
16 raining. So it depends on which day you're doing.

17 Another area recognized establishes the
18 absolute correlation for level A and level C in
19 FDA's 1997 guidance. It's an incredible
20 difficulty, but that's part of the reason we ask
21 you for input on in vitro/in vivo relationships
22 because we're advanced in PKPD modeling, which Paul

1 discussed. We feel there's opportunity. There's
2 opportunity for sponsors. There's opportunity for
3 us to take. Eventually of course, our beneficiary
4 is our patient.

5 So where do we want to go? As we discussed
6 quite a lot, I'm trying to make a case that
7 bioperformance risk for BCS class 1 and class 3
8 drugs immediate-release dosage form is very low.
9 Bioperformance for BCS class 2 and class 4 drugs,
10 immediate-release dosage form is low and medium
11 [indiscernible]. But bioperformance risk for
12 extended -release dosage form without
13 in vitro/in vivo relationship is low or even high.

14 Where is our future design state of in vitro
15 dissolution for extended-release oral dosage form
16 or oral product? I want to leave the thought here.
17 We want to have an in vitro dissolution test that
18 provides predictive insight to in vivo performance.
19 This will assure high-quality drug products that
20 maintain the safety and efficacy throughout the
21 product life cycle.

22 With an in vitro/in vivo relationship, the

1 impact of critical material attributes and the
2 critical process parameters or in vivo performance
3 can be quantitatively assessed by in vitro
4 dissolution. This provides scientific and risk-
5 based knowledge to support patient-focused quality
6 standards.

7 In a simple term, established
8 in vitro/in vivo relationship for extended release
9 oral dosage forms will significantly reduce the
10 risk of the bioperformance of those products to
11 patients. Thank you.

12 **Clarifying Questions**

13 DR. AMIDON: This is the point at which we
14 can ask clarifying questions of our FDA speakers.
15 If you have any clarifying questions for the FDA,
16 please remember to state your name for the record
17 before you speak, and if you can, please direct
18 your questions to a specific presenter, and just
19 let us know if you have questions, and we'll keep
20 track of that.

21 Dr. Cook, first.

22 DR. COOK: I have two truly clarifying

1 questions, and I'll go with Lawrence first. Just
2 so when we get to the question, are you looking for
3 this patient-focused dissolution standard to rely
4 on release testing and overall quality of the
5 formulation in IVIVR set [ph] release, or are you
6 looking only for the former?

7 I'm wondering if you're looking for a method
8 that would be robust enough to use for release
9 testing, and then I'll have my comments on that
10 later because that's the comments part.

11 DR. YU: At this moment, certainly we could
12 have two dissolution methods. One is in vivo
13 quality to show it's safe and effective
14 equivalence, and that dissolution method is now
15 more commonly used as a QC test.

16 DR. COOK: The second one is for Paul, and
17 that has to do with the use of a PBPK or PBBP. You
18 mentioned that 75 percent of the ones submitted
19 that used PBPK were successful, and earlier
20 40 percent overall since 2008 weren't successful.
21 That leaves two that weren't accounted for.

22 I'm just wondering, in a case where somebody

1 submitted they used PBPK in the development of the
2 IVIVC but didn't develop the IVIVC using PBPK
3 because they needed individual predictions, was
4 that counted as a PBPK use or not use?

5 DR. SEO: That's a great question. There is
6 some overlap, not as much as we'd like to see. But
7 I would count that as a use, primarily because in
8 the instances where -- it's not a lot yet, but in a
9 couple of instances where we've had a PBPK case
10 support an IVIVC, we were able to salvage some of
11 that information.

12 DR. AMIDON: Good for now?

13 DR. COOK: Yes.

14 DR. AMIDON: Next, Dr. Awni, please.

15 DR. AWNI: I was wondering if you
16 have -- like to declare something as valid, a PBPK
17 in a biopharmaceutic sense. Have you start
18 defining the parameter of validation or what is
19 acceptable or not? I think that's far off. I just
20 do a comment on that. Part of the thing is the
21 comfort level is if we do develop something, would
22 that be accepted? So do you have success criteria?

1 DR. SEO: It's hard to put a specific
2 number. We've been asked that question a lot, and
3 it's hard to say here's a line in the sand. If
4 you're on this side, okay; if you're on this side,
5 no, because that's kind of the situation we got
6 into with IVIVC.

7 So far from what we've seen with regards to
8 PBPK or quality in silico modeling, each use case
9 is slightly different. Each user's experience and
10 knowledge of data for that product is slightly
11 different. So the level of risk that we're willing
12 to take changes, and therefore that variability in
13 the model or validity in the model also changes.

14 DR. AMIDON: Good. Thank you.

15 Dr. Tenjarla?

16 DR. TENJARLA: Thank you. Srini Tenjarla,
17 Shire Pharmaceuticals. My question is specifically
18 for Dr. Lawrence Yu. I completely agree with you
19 that there's a big challenge for extended-release
20 dosage form to match the in vitro dissolution with
21 the in vivo profile, mainly because we are limited
22 by what we could do by the traditional dissolution

1 methods.

2 Has there been any thoughts given to other
3 models out there like the TNO model, the simulated
4 gastric model for dissolution testing and the
5 physiological development conditions?

6 DR. YU: So what we're looking for is a kind
7 of in vitro dissolution test, and not necessarily
8 USP dissolution test. So we're opened to other
9 possible input regarding the dissolution apparatus
10 test method, media, and include approaches. For
11 example, we're not strictly looking for in vivo/
12 in vitro correlation, which is defined in 1997
13 guidance. You could have used potentially a PBPK
14 modeling instead of potential relationship.

15 So at this moment, the challenge is there
16 and the desire to go is also there. But how to get
17 there is wide open. We are seeking the advisory
18 committee's input on this. Thank you.

19 DR. AWNI: I think that makes sense because
20 in the past, we have evaluated simulated gastric
21 fluids and simulated intestinal fluid. At the same
22 time, we also use the models like the TNO simulated

1 gut model and some of the other stuff we did. And
2 basically combined together, you get a lot of
3 information. But that's actually very good for
4 doing it once or twice, but it's very difficult to
5 do on a routine basis for a batch-to-batch release.

6 DR. YU: We understand, yes. Besides the
7 USP apparatus, there are multiple apparatuses out
8 there, and Dr. Lostritto in his talk introduced a
9 number of methods and certainly [indiscernible].
10 The company or sponsor can choose whichever method
11 they want. But the bottom line is when you collect
12 all this data, all this information, when you have
13 an enriched knowledge, we begin to understand and
14 we can make progress.

15 If a company, the one or two that was never
16 shared with us, it's very difficult for the agency
17 to make progress. We all continue to rely on
18 dissolution apparatus in specified USP method. We
19 recognize the very complex TNO method if you want
20 to use for daily -- as a quality control and could
21 be very complex, but we have to start somewhere.
22 We recognize that. Thank you.

1 DR. AWNI: Thank you.

2 DR. AMIDON: Dr. Sun, next.

3 DR. SUN: I have a few comments for
4 challenging and opportunity. I also have a
5 question. I think it's really exciting to see FDA
6 went a long way to really accomplish a lot of a
7 good things for immediate-release dosage form, all
8 the new technology to really test the quality to
9 ensure the safety and efficacy for that. That part
10 is really exciting to see.

11 I totally agree with Dr. Yu's presentation,
12 the last for IVIVR for ER. I think that's long
13 overdue. The challenge I see is that. To ensure
14 the safety and efficacy from a quality point of
15 view, even from an innovator ER, that's first a
16 challenge already there. So from IR to ER
17 innovator, that's a lot of unanswered questions
18 still there. And from innovator ER to generic ER,
19 that's another level of uncertainty. So that's one
20 challenge I see.

21 The second was in terms of modified release,
22 some drugs have a really modified release, you have

1 a traditional flip-flop, and some other drug will
2 not. The question is which one is really true a
3 modified release? That's another challenging
4 question that needs to be answered.

5 Third is, for SR, which is twice a day,
6 versus ER, which is once a day, there's also an
7 unanswered question there in terms of
8 bioavailability.

9 The fourth is regarding the IVIVC, the in
10 vitro by irrelevant conditioning, like the voting
11 [indiscernible], the buffer capacity, the time, the
12 stomach, some intestinal [indiscernible]. And
13 especially for calling for modified release, that's
14 just very much unknown. So really I see -- I think
15 there's a lot of opportunity and a challenge there.
16 And now in the last few years, I agree with
17 Dr. Seo, as to why people don't like to do IVIVC,
18 because it is challenging. We made a lot of
19 assumptions, which we don't know.

20 For IR, IVIVC and PBPK works reasonably
21 well, although we made an assumption in vivo. But
22 the ER for those assumptions no longer work. So I

1 think that's where the challenge is. Of course, we
2 can manipulate -- not manipulate, modify the model
3 to fade the IVIVC. But the question is how do we
4 know that's correct? How do we validate? So
5 that's the condition. How do we validate in vitro
6 biorelevant dissolution, all those conditions? How
7 do we validate in PBPK to make sure we can capture
8 all those answers?

9 So those are my comments for the challenge.
10 And the question is, those situations are different
11 from this morning's discussion. This morning, you
12 have all the knowledge in the basement. You can
13 gather that. The problem for here is there's no
14 knowledge yet. Nobody has this in vivo data. We
15 don't have it to validate, so I don't know.

16 From the agency's point of view, what are
17 your thoughts? How do we gather those data to
18 really move this forward? This is long overdue for
19 modified release.

20 DR. YU: I want to clarify. I know in our
21 Federal Register notice, when we initially want to
22 discuss this topic for the discussion at this

1 meeting, we used the words "modified-release dosage
2 forms," and we made a change to extended-release
3 dosage forms, partly because we need your input to
4 be focused because modified-release could be
5 extended-release dosage, and extended-release
6 dosage form could be delayed release.

7 So I'm hoping this afternoon the discussion
8 will be focused on extended-release dosage form
9 only so that we can get input, and agency can
10 continue to make an effort. Although the goal is
11 I'm hoping we make some progress in this specific
12 dosage-form arena.

13 In terms of agency planning, the answer is
14 simple. We need to get more data. How to get more
15 data, you need to fund it. The agency, whether
16 private sector, industry, academia, we all need to
17 be -- this whole scientific community, bringing it
18 all together and identify areas we need to be
19 working on, get additional data, what we need so
20 that we can make it progress.

21 The chair, Greg Amidon, besides this voting
22 question, if the committee could provide additional

1 recommendations and suggestions to the agency, I
2 will personally be very appreciative. Thank you.

3 DR. AMIDON: I have a question. This is
4 Greg Amidon. This is directed I think to Dr. Seo,
5 please. I think you touched on this one, at least
6 in part, when you were answering Dr. Awni's
7 question. But recognizing that users when they use
8 software for modeling purposes maybe have different
9 levels of experience, and you take that into
10 consideration, I guess my question's a little bit
11 more about the software limitations and the wide
12 variety available.

13 That software is proprietary. Some of that
14 is black boxed perhaps. And I'm just wondering
15 what your thoughts are in terms of how the FDA
16 would address those differences and issues and
17 unknowns, and the potential that with two different
18 packages of software you could get two different
19 predictions, I guess I'll say.

20 DR. SEO: Also another great question. The
21 agency recently released a guidance on the format
22 of PBPK and the acceptance of those kinds of

1 models. Part of that has to do with assumptions,
2 getting us information with regards to any kind of
3 black box information. Even the innovator or the
4 company might not have that information, but if
5 there is such a situation, particularly whether
6 it's software based or perhaps they're using their
7 own code to create the model, we ask for.

8 We by no means can have an idea of what
9 those black box assumptions are. We put it on the
10 sponsor to explain to us. We're not here to do a
11 review and really re-do the work. We're here to
12 make a critical assessment of what's been done.

13 The other piece is we regularly have
14 interactions with the companies of the software,
15 the manufacturers that code it. And they ask us on
16 a regular basis, either in hallway conversations or
17 at a workshop, what do you need and what are you
18 lacking? They look for process improvements, and
19 we give them that feedback. So some of that has
20 been incorporated, and we hope to see more of that
21 collaboration moving forward.

22 DR. AMIDON: Thank you. Dr. Polli?

1 DR. POLLI: Jim Polli, University of
2 Maryland. I have a question for Dr. Lostritto.
3 Thank you very much for your talk on
4 patient-focused quality standards. I enjoyed it
5 very much. If I understand, IVIVC has been around
6 for over 20 years at least in guidance form and has
7 been recommended for some time. And to some level
8 of extent, it's been applied, but maybe not nearly
9 as much as probably all of us would hope, really.
10 So the question that comes to my mind is how to
11 motivate busy developers to also engage in this
12 area a little bit more.

13 What I noticed, Dr. Lostritto, in one of
14 your early slides, you talk about there's
15 opportunity to avoid under- and
16 over-discriminating. Do you see opportunities to
17 move the field forward in terms of benefiting
18 developers and patients in terms of using this type
19 of dissolution approach?

20 DR. LOSTRITTO: Yes. Thanks, Jim, very
21 much. As Dr. Yu mentioned, too, we're open to
22 other types of in vitro approaches besides the USP

1 apparatus, number one. Secondly, we do get a lot
2 of interaction with the industry on what's over- or
3 under-discriminating in terms of dissolution, and
4 it generates a lot of discussion. I think the
5 reduction of things, methods that are
6 over-discriminating when not necessary would be I
7 think of high interest and would serve as a
8 motivation to develop that relationship or
9 correlation.

10 You look at dissolution as a tool. You want
11 to refine it, and sharpen it, and hone it, and
12 that's a great idea. But sooner or later, it
13 starts coming down to the minutest thing you can
14 measure theoretically. Just like we run into the
15 same problem with impurities and assay and so
16 forth, you can get lower, lower, lower and tighter,
17 tighter, tighter, but is it relevant
18 physiologically?

19 I think not only is there a scientific value
20 in that, but there's a very practical value in
21 being able to have a method that is reflective of
22 the proper level of discrimination that is

1 biorelevant, number one. And also, as Dr. Yu
2 mentioned and maybe Dr. Seo also mentioned, too,
3 one size does not fit all. You may need a method
4 that serves this purpose to do occasionally and
5 have that correlated with an in vitro method.

6 So instead of correlating every in vitro
7 method to an in vivo situation, once you have an in
8 vitro/in vivo relationship, then you only need to
9 connect your in vitro to that second relationship,
10 kind of a secondary standard so to speak. And I
11 think that approach has some value, too.

12 Does that address your question?

13 DR. POLLI: Yes.

14 DR. LOSTRITTO: Thanks.

15 DR. AMIDON: Dr. Terzic?

16 DR. TERZIC: I also enjoyed the
17 presentation. This is Andre Terzic from the Mayo
18 Clinic. Dr. Seo very clearly pointed out the
19 significance of this discussion, and he framed it
20 in one of his slides as part of the ethical, even,
21 consideration of if we could, in an ideal world,
22 even avoid in vivo studies and rely increasingly on

1 in vitro studies, one of the ethical aspects will
2 be there even more focused. And the biowaiver
3 program was highlighted very clearly.

4 Just as a suggestion to our colleagues at
5 the FDA, actually the terminology that you use in
6 the title, the two terms that caught my attention
7 that may need some internal clarification, the
8 first one is actually "patient focused." When you
9 say patient focused, there is an automatic reaction
10 that beyond biorelevance, which you define more as
11 a physiological concept, there is a pathological
12 dimension.

13 Are diseases in one or another maybe not
14 affect the dissolution per se, but affect the other
15 piece, dissolution information that Dr. Yu put
16 together in the formula. For you to think a little
17 bit, is patient centric really or patient focused
18 really what this effort is attempting to do, or
19 does it require a pathobiology beyond the biology
20 or the physiology to be addressed?

21 That's one. The next one are the standards.
22 What specific standards are you really after? This

1 will be very useful to delineate from the onset.
2 Then we may be able to more specifically help you
3 with the categorization of these standards as you
4 keep on building them. But that's maybe an ongoing
5 discussion and doesn't need an immediate answer.
6 Thank you.

7 DR. AMIDON: Dr. Slattum?

8 DR. SLATTUM: This is Patty Slattum from
9 Virginia Commonwealth University, and I have
10 actually the same question or concern about what
11 the term "patient focused" was intended to mean.
12 Because I agree about the pathophysiology, but I
13 also think of what the dimension of normal
14 physiology even can span.

15 Is the ultimate goal to help us to
16 understand those sources of variability better or
17 to understand the dosage forms performance better?

18 DR. YU: So maybe I'll give it a shot to see
19 if I can answer your question. Some of you know me
20 well. I come from industry. When I was in
21 industry, I always asked, "Why does FDA want this?"
22 The answer is because FDA wants it. When I joined

1 FDA, I questioned, why are we doing the test?

2 "Because we want to do this test."

3 Sometimes we do the test. What is the
4 purpose? It is not very well defined. The
5 dissolution is a typical example because
6 dissolution is the only way we understand the drug
7 release, so therefore the test must be conducted.
8 But the problem is in some cases it not may be
9 related in vivo. If it's not related in vivo, then
10 for what purpose?

11 So therefore, we are here to discuss -- the
12 agency feels that we need to move all the quality
13 standards, industry sponsors, move and be related
14 to the patient. If it's not related to the
15 patient, then testing may not be meaningful and may
16 not be needed to do those tests. That's the whole
17 bit behind disease.

18 Certainly, I recognize from clinical, from
19 the physician's perspective, when you call a
20 patient, are we going to talk about pathology or
21 other related? But mainly, folks, I want to
22 emphasize. That's why in my talk, I specifically

1 emphasize the bioequivalence and predictive power
2 to ensure the product manufacturers continue to
3 perform same as material in the clinical studies.
4 That's the whole purpose we're talking about here.
5 Thank you. But we have no meaning -- the extent to
6 different disease material -- different disease
7 state, which is very complex. But at this moment,
8 we want to talk about standards, which is relevant
9 to clinical studies.

10 DR. SEO: And just to clarify, I think
11 "patient" is the right terminology because what
12 we're really starting with is safety and efficacy
13 profiles. With regards to if there's a minimum
14 concentration to elicit the effect, whether it's a
15 kill rate in an anti-infective antibiotic, or maybe
16 there is an adverse event that you're trying to
17 avoid and you want to limit the Cmax, or in the
18 case of extended release, you need to elongate that
19 profile so they have an all-day release, it really
20 does start with the patient and the intended
21 indication for that drug product.

22 PK is a surrogate of that, which primarily

1 we use to set the dose, and in our case help set
2 the standards or the specifications from a quality
3 perspective. So just to add on to that, I think
4 that patient focused is probably, in my opinion,
5 relatively accurate. Maybe others disagree. I
6 don't know, but just to clarify. Thank you.

7 DR. SLATTUM: Can I just follow up for one
8 second? You mentioned in the PBPK the case would
9 be for actually incorporating those sorts of
10 things. You mentioned special populations, whether
11 the absorption would be the same, and maybe that is
12 where this link to patients is happening.

13 DR. SEO: Special populations is not in the
14 realm of responsibility for OPQ. Our colleagues in
15 OTS and clinical pharmacology deal with that, but
16 again, in certain cases, they [indiscernible]
17 falling for that purpose. The intention of that
18 slide where I went over that was to show the
19 various uses in CDER, not specific to OPQ. Thank
20 you.

21 DR. AMIDON: Dr. Mager?

22 DR. MAGER: Thank you. Don Mager University

1 of Buffalo. I also was thrown by the patient
2 focused when I first read it. I get it, and I get
3 into the FDA's point on it, but it didn't
4 immediately imply to me that there would be some
5 level of disease that you were trying to
6 incorporate into the standard. So I think it just
7 needs to be very clear, I guess, and it wasn't by
8 the title. But of course getting through, I
9 understand it.

10 I would really like to see mechanism-based
11 modeling put into it. It's probably no big
12 surprise that a modeler likes to see more modeling
13 at the FDA. So it's not a big surprise there. But
14 I did want to go towards the objective. And that
15 is, with all of the focus on PBPK and doing
16 modeling in a better and more sophisticated way,
17 are you looking for model agnostic standards, or
18 are you envisioning standards that are coupled with
19 the pathways that you're going to allow?

20 So if you're going to do a PBPK, you'll get
21 these standards. If you're not going to do PBPK,
22 it's a different set of standards. So are you

1 looking for something that's model agnostic or do
2 you wish to actually couple this with modeling?

3 DR. SEO: It would be the more of the
4 latter. So it would depend on the selection of
5 what you're trying to do. In a nutshell, model
6 agnostic. I don't know if that answers your
7 question.

8 DR. MAGER: Oh, no.

9 (Laughter.)

10 DR. YU: Can you elaborate a little bit more
11 about details?

12 DR. MAGER: Yes, of course. You made the
13 point, and very nicely, that the model criteria are
14 application specific. If you're modeling criteria
15 that are going to be application specific, how will
16 you then set aside standards that are separate from
17 the methods you're going to use to establish the
18 relationship between in vitro dissolution and in
19 vivo performance?

20 I can envision ways you would do it in terms
21 of PBPK. Similar to the way it's done for
22 pediatrics, you would have a model that's perhaps

1 validated in adult subjects before it's ever
2 applied to a pediatric subject. So you can
3 envision PBPK being applied to immediate release
4 before it's applied to the extended release, so for
5 the same compound.

6 So I can see ways in which -- and you have
7 great guidances out already, so I'm fine with that.
8 But when you come to then dissolution standards,
9 how easily then can you separate and create general
10 standards that are separate from the method you'll
11 use to actually establish those relationships?

12 DR. YU: I'll give a try to see if I can
13 answer this question, to see if I can understand
14 this. The typical PBPK modeling and the absorption
15 predicted in vivo is I would say different from
16 typical pharmacometrician, which is involved here.
17 Those models -- I'm sorry; I have to mention my own
18 model, just comparing absorption transit [ph]
19 model -- is based on a physiological term as
20 reasonable established.

21 So when you plug the drug substance or drug
22 product information into this model, where models

1 already exist, we may shift one or two parameters,
2 but it's not random. It's a brand new model. The
3 CATA [indiscernible] model original was established
4 based on the standard physiology in vivo.

5 Now with the sophisticated understanding of
6 an in vivo gastrointestinal tract, we may continue
7 to improve this model. But this model is now
8 continued to revive and have a better fitting about
9 in vitro dissolution and in vivo. So there's a lot
10 getting involved, so I'm not sure I answered this
11 question, but I feel probably it's different here.

12 DR. MAGER: No. I wasn't trying to
13 distinguish fitting from projections. The use of
14 PBPK, I got that. But how do you set a standard,
15 then, without PBPK? Do you see what I mean? Are
16 you going to require PBPK? I should say is PBPK
17 going to be required for every application?

18 DR. YU: At this moment, we're seeking for
19 some kind of relationship to be established with
20 PBPK as a tool, facilitate establishment for those
21 relationships. In the 1997 original guidance,
22 there's no mention of a PBPK. So in a very simple

1 term, you have an in vitro dissolution, a profile
2 percentage of drug dissolved as a function of time,
3 and you have pharmacokinetics, and [indiscernible]
4 the pharmacokinetics.

5 So you get a percentage of a drug dissolved
6 in vivo, and we could have a point upon the
7 relationship, for example, 10 or 20 minutes, he has
8 20 percent dissolved in vitro, and in vivo
9 30 percent is up, so we kind of have a linear
10 relationship. But now, does this term -- there's
11 no sophisticated PBPK. It's a simple, linear
12 relationship but involved.

13 So we're open to suggestions. We're not
14 specifically saying you have to use a PBPK model in
15 that relationship. We're open to all kinds of
16 relationships. As long as there's some kind of
17 relationship, which is validated, we should have a
18 predictive power. I hope this answered your
19 question.

20 DR. MAGER: Thank you.

21 DR. AMIDON: Dr. Cook, did you have a
22 comment or question specifically on this?

1 DR. COOK: Yes. I thought I'd try to rush
2 to the aid of the FDA. Jack Cook, Pfizer. The
3 idea is that the standards assure a level of safety
4 and efficacy, so that should be agnostic to the
5 model you're using, whether you use one or the
6 other. So that's why the goal is to assure that to
7 the same degree.

8 So if you're predicting Cmax and AUC as the
9 surrogates for safety and efficacy, the standard,
10 regardless of the methodology, you should use if
11 you want to ensure the same amount of safety and
12 efficacy confidence, should be the same regardless
13 of model. And I think that's why you were saying
14 model agnostic.

15 DR. AMIDON: Dr. Awni?

16 DR. AWNI: I was going to go back actually a
17 couple of statements that, Dr. Yu, you mentioned,
18 which is basically to say, depending on -- I've
19 been in multiple discussions where there is
20 discussion about, well, we need a dissolution
21 method for quality and lot release, and we need a
22 dissolution method to do IVIVC.

1 Those two things, this could be simple.
2 This could be much more complex. And I think the
3 more you guys, you guys meaning the FDA, come out
4 with a little bit more description -- because you
5 said I think, if I understood, it might be two
6 different things. The more meat you put on that
7 statement, the more you separate the two, then
8 probably the more interest in actually saying, hey,
9 if I come up with a very sophisticated in vitro
10 dissolution method that I related to in vivo, am I
11 going to be using that for lot release or quality?

12 So the more guidance you give about when do
13 you do that, how do you do that, that will actually
14 help move it because then you really focus the
15 IVIVC and patient to things that are -- we did
16 formulation changes, we did all of these things.
17 Now we're going to use this method to do this to
18 accomplish, but this is a different objective, and
19 you might want to have a different dissolution
20 method.

21 DR. YU: I cannot agree with you more. So
22 today's discussion, we're focused on some kind of

1 in vitro testing, simple or complex, which is later
2 in vivo. In the hypothetical situation, if you do
3 have a method which is later predicted in vivo,
4 that will facilitate product development. That
5 will facilitate the understanding of the critical
6 formulation factors, the material attributes, and
7 understanding of the manufacturing process.

8 In this scenario, we made not need to do
9 drug release testing at all if we have a good
10 understanding. In fact, the agency already
11 approved a couple of the real-time released testing
12 without in vitro dissolution testing. But at this
13 moment right now, if the in vitro test is not
14 predictive, what do we do? We're trying to put all
15 the nails in place to control everything, and
16 anything changing becomes a high risk because we
17 really do not know what is going on. It's like a
18 black box.

19 But in the future, which we're pushing for,
20 if we have a good understanding -- if you've done a
21 method able to predicting in vivo supposedly exist,
22 then you'll have an understanding what are the

1 factors to get your results. If we have a good
2 understanding of factors, you control this factor.
3 Then the quality control method may not be needed
4 at all.

5 But at this moment right now, we are not at
6 this stage, so you probably can see from us, we
7 want this, we want this because we have a poor
8 understanding of what's going on in our way for
9 extended-release drugs. To a certain extent, I
10 don't want us to complete a black box, but at least
11 a gray box right now. In the future, if we
12 understand everything going on and we have
13 transparency going on, then I do envision someday
14 some in vitro so-called quality control dissolution
15 test may not be needed.

16 So that's why I make a joke at the
17 beginning. Why do we need a dissolution test?
18 Because FDA wants it. Why does FDA want a
19 dissolution test? Because in vivo bioequivalence
20 prediction, if it is predicted. If it's not
21 predicted, what is the purpose? For quality
22 control. So what is quality control purpose? If

1 you go back to Dr. Kopcha's initial discussion, the
2 quality is to make sure the next dose is
3 equivalent. But with quality control, you have a
4 test, but it may not be a test for the next dose is
5 exactly the same or not.

6 So we make all the circular argument going
7 on here. That's part of the reason. We are hoping
8 we have some kind of test. Simple or
9 sophisticated, truly predicting in vivo will make
10 industry, sponsor, and FDA's life a lot simpler,
11 but eventually the benefit is our patient.

12 I don't know, Mike, if you wanted to make
13 comments here.

14 DR. KOPCHA: This is Mike Kopcha. Just a
15 couple of things. One, we talk about risk base, so
16 we need to know where the risks are. Obviously, if
17 we don't know where the risks are, then we're going
18 to test everything, and that takes a lot of time, a
19 lot of money, and a lot of resource. So we're
20 trying to move away from that.

21 So the more we can do these IVIVR
22 situations, the easier it makes for us then to

1 figure out where to test, what to test, and what
2 that actually means. It's tied back to the
3 patient, because we've got individuals that come
4 back and say, "You know what, Mike? We're doing
5 all this testing, we've got our specification and
6 stuff for the product."

7 But is that really clinically relevant, that
8 specification? What does that specification
9 actually mean? "Well, Mike, we're just able to
10 control it, and that's what we're showing you."
11 It's like, "Yeah, I know that, but how does that
12 relate back to the patient?"

13 So as we talk about patient focused, what
14 we're talking about -- and again, I know we already
15 discussed this, and I think the clarity is there.
16 We want to make sure we're focusing on what's
17 relevant to the patient or what's clinically
18 relevant, because if we set specifications that
19 have no clinical outcome or no clinical quality,
20 what's the point of that then?

21 So we really want to try to move away from
22 just setting specifications for the sake of setting

1 specifications and really getting it back to what's
2 important to the patient and getting them the drug,
3 and the amount that they should, and so on, and so
4 forth. So it always goes back to safety and
5 efficacy, which is one of the reasons why I define
6 quality as safety and efficacy of the next dose.
7 So hopefully that kind of ties some of that
8 together.

9 DR. LOSTRITTO: I just wanted to add to the
10 discussion the thought that we should also keep in
11 mind those things that destroy predictability as
12 well, as we learn more about the in vitro and in
13 vitro/in vivo relationships. For example, we know
14 that over many years of study and research and
15 publications, surfactants don't do much to bridge
16 our relevance. We also know that USP apparatus,
17 certain agitation speeds, for example, with the
18 paddle, 100 rpms starts getting -- you lose
19 discriminatory capability.

20 So as important it is to bridge and
21 establish new correlations, it's important to keep
22 in mind and to avoid those things we know detract

1 from that correlation as well.

2 DR. AMIDON: Thank you. Dr. Tenjarla?

3 DR. TENJARLA: Thank you. Srini Tenjarla,
4 Shire Pharmaceuticals. I just have a comment on
5 the request of can we focus specifically on the
6 extended-release dosage forms and the fact that the
7 number of applications that you got for IVIVR since
8 the last 20 years has been a very small.

9 I think one of the factors that that needs
10 to be taken into consideration is certainly
11 limitations that we may not be able to do much
12 about because we know that for extended-release
13 dosage form, depending on how it is formulated, a
14 significant amount of the drug is going to be
15 released in the large intestine.

16 We also know that not much drug is going to
17 be absorbed from there. And even if you did
18 absorb, it's going to be highly variable because of
19 the presence of fecal matter, because it may be
20 that one tablet is going to be pushed harder by the
21 housekeeping [indiscernible], or GI motility, that
22 kind of stuff, so the variability is pretty high.

1 And for all practical purposes that's something we
2 will not be able to control today or anytime soon.

3 But my point specific to the small number of
4 applications that you have received for IVIVR is
5 maybe because that everybody does a phase 1 study
6 early on during development. And then if you use
7 the phase 1 PK data and then you try to do a
8 simulation as to what it will take for you to pass
9 an IVIVR criteria for
10 AUC and Cmax, it'll come up into a pretty big
11 sample size.

12 For example, if I recall right, about
13 60 percent or 55 percent of a drug is being
14 released beyond the small intestine, and if you do
15 the sample size for you to pass the IVIVR, you're
16 looking at pretty close to like about 200 subjects,
17 or 125, or something like that, which may be one of
18 the reasons why people are not really jumping to do
19 an IVIVC for an extended-release dosage form.

20 My final comment is that if there is -- and
21 I'm not an expert on it, but if there are certain
22 simulations that can be done, especially for a drug

1 that is not really potent, where we are able to
2 eliminate a certain part towards the end of the
3 release profile, the large intestine profile, and
4 at the same time scientifically being very sound
5 when you compare the first 65 or 75 percent of the
6 peak AUC, that may be probably something that's
7 probably more agreeable to the applicants.

8 DR. YU: Thank you. So therefore, we're
9 seeking the advice and input of pharma
10 [indiscernible], and we recognize some of the
11 challenges in the 1997 guidance. We don't want to
12 introduce the PKPD model in this arena because in
13 many generic innovators, when they develop the
14 extended-release dosage form, you probably conduct
15 more than one bioequivalent studies. When you
16 conduct more than one bioequivalent study,
17 [indiscernible] bioequivalent study, if you use
18 PBPK model, you can learn significant knowledge
19 from that. And I'm hoping they begin to move this
20 direction. A company can learn from results and
21 failed bioequivalent studies. It helps establish
22 eventually the dissolution method, because it's

1 better than nothing. Right now, we're not
2 doing -- all this knowledge, we're not getting.

3 So I'm hoping to open the door for all the
4 opportunity and also, clearly, if FDA needs to show
5 flexibility as well. As a scientific community,
6 with the regulators or industry, we kind of need to
7 work together to advance this whole field.
8 Otherwise, 20 years from today, we will talk about
9 the same thing here. Thank you.

10 DR. AMIDON: Just as a heads up, we have
11 Dr. Polli, Donovan, Cook, Li, and Sun. We have
12 some flexibility on time, so if there are others, I
13 think we can get through these questions and
14 comments. And if there are others that have
15 questions, let us know. So let's go to Dr. Polli
16 first.

17 DR. POLLI: James Polli, University of
18 Maryland. This question is for Dr. Seo. I'm
19 pleased to hear about the relative success in the
20 modeling area in terms of biopharmaceutics. When
21 you spoke about IVIVC and IVRs' current state,
22 where there's been less success, you have one

1 question in your slides, "Why not more IVIVCs?"
2 And one of the possible reasons that you suggest is
3 it's seen as an all or nothing approach.

4 So I'm just kind of wondering, can you
5 elaborate more on that? Is there anything that any
6 of us or all of us can do, especially given success
7 in other areas of modeling, where it doesn't
8 necessarily have to be all or nothing?

9 DR. SEO: So generally, what we meant by all
10 or nothing has been when the model was shown
11 from -- around the framework, the guidance is set
12 up. It's very difficult to salvage that data into
13 something usable, particularly because most of the
14 times right now when we receive an IVIVC, it's not
15 for the typical quality purposes, typically because
16 they're pursuing a biowaiver post-approval. And to
17 do that, if all the benchmarks aren't hit as laid
18 out by the guidance, we really can't grant that
19 waiver.

20 Really, it just is a line drawn in the sand
21 for that purpose. What can you guys do, the second
22 part of your question. I guess vote yes --

1 (Laughter.)

2 DR. SEO: -- if I had to say anything. But
3 it's hard to say, to be more willing to work with
4 us up front from an industry perspective and pursue
5 from an academic perspective more of these kinds of
6 research. I think right now, we're just starting
7 to open up what's possible with regards to
8 manufacturing and using this kind of modeling. So
9 I'm very optimistic. Thank you.

10 DR. AMIDON: Thank you. Dr. Donovan?

11 DR. DONOVAN: Dr. Maureen Donovan from the
12 University of Iowa. I'm both trying to understand
13 and simplify, and I'm afraid that I've probably
14 oversimplified in my head what the goal is. So my
15 first question is sort of a reality check, and then
16 I'll follow up with perhaps the picture in my head
17 and the struggle with how one would do this.

18 So here's my oversimplification, that the
19 long-term goal -- stuck in dissolution testing.
20 Sorry, Dr. Yu, but I can't get past that, so I'm
21 going to stick with dissolution testing as an
22 endpoint test, that is both something that somebody

1 can use for -- that will be used for product
2 quality testing.

3 Are you essentially asking how that test is
4 conducted, whatever the choices are, has a
5 physiologic relevance to why time points, pHs, flow
6 rate systems, whatever is being used there, that
7 you can tie a physiologic relevance to those
8 testing time points and the readout when all is
9 said and done?

10 I'm starting at the end rather than the
11 beginning. The beginning all had to be done, all
12 of the modeling and whatever to understand
13 potentially what the physiologic controls were, but
14 are really what you're looking for, at the end of
15 the day from an applicant, from a product quality
16 standpoint, is that their dissolution testing
17 methodology has essentially a justification that we
18 draw at these time points, we use these conditions,
19 and they have these meanings physiologically? And
20 if we fail in any of these, it means something
21 about our product, and that batch failing?

22 Have I oversimplified what you're looking

1 for?

2 DR. YU: No, you did not -- what we're
3 looking for long term is that there's a dissolution
4 test, which is predictive in vivo, which is related
5 to in vivo. This dissolution test may be a useful
6 QC test, but we can talk about this because I don't
7 want to be a sophisticated test always utilized for
8 batch release.

9 But if the envision of this test is to truly
10 predict in vivo, then QC test, which is normally
11 traditionally conducted could be simplified by
12 control of the process, control of the material,
13 and we may not need to conduct end-product testing
14 at all.

15 I want to make sure of that because
16 otherwise, companies say, well, we have to develop
17 a predictive dissolution test. Now we have to
18 develop another simple QC test. And the real
19 question, what is the purpose of the QC test? For
20 the QC test -- what is the quality? As Dr. Mike
21 Kopcha defined many times, the quality is to ensure
22 the next dose is safe and effective and equivalent.

1 Then what is the purpose of QC? Is QC just
2 for process control or is QC just for manufacturing
3 control? So the meaning of the QC has become
4 questionable, but today how are here, we are
5 looking for the opportunity to have a test which is
6 related to in vivo.

7 DR. DONOVAN: Then my follow-up is, my
8 second entering complex extended-release dosage
9 forms is if I have a dosage form that by design, it
10 delivers the drug in two pulses plus an
11 extended-release component. So I'm going to get
12 some changes in my plasma concentration time
13 profile in my patient over time. But those have no
14 clinical readout, those differences when I'm at my
15 Cmax 1 and my Cmin 1, and my Cmax 2 and my Cmin 2.

16 I see no clinical differences, yet my
17 performance test may somehow be tied to Cmax 1 and
18 Cmax 2, and I don't see, one, the relevance or the
19 necessity of that. And that's another thing I'm
20 struggling with is that if really what you're
21 trying to do is be predictive -- I understand from
22 a quality control standpoint that you want those

1 pulses to be the same from that dosage form, but it
2 has no clinical relevance whether they are or not.
3 So this is where we are. Could potentially some of
4 these new requirements be now over-regulatory?

5 DR. YU: We can have -- I don't want to say
6 extensive. Myself, I have many experiences of
7 so-called combination, immediate release and
8 extended release become like a peak 1 and peak 2.

9 Let's go back. Why do we need the
10 combination in the first place? When the company
11 submits an application, than usually the
12 application, instead of two-piece of combination,
13 must have a clinical meaning. Otherwise, how will
14 the [indiscernible] be approved.

15 So therefore, whether peak 1 or peak 2,
16 there's a clinical meaning behind this. When you
17 have a clinical meaning and the next is
18 [indiscernible] establishes a surrogate, which is a
19 bioequivalence criteria, which is relayed to
20 in vivo performance -- you talk model peak. So
21 when you have a bioequivalence criteria, a typical
22 bioequivalence criteria, the experience is typical

1 Cmax and AUC, but not necessarily. We have a
2 pash [indiscernible] AUC involved. In the area of
3 [indiscernible] AUC, we can have a Tmax involved.

4 So there's a sophisticated expectation
5 involved. What we're looking for in vitro, is
6 despite the sophisticated expectation involved in
7 vivo, some kind of dissolution test relates to in
8 vivo multiple performance. That's what we're
9 looking for.

10 So when you say, well, I have a multiple
11 peak, but they're not of clinical relevance, my
12 first question is how could a product be approved
13 if they're not of clinical relevance? So I think
14 there are multiple implications involved here.

15 I'm sorry, Professor Maureen Donovan. It
16 could be much more complicated when you talk about
17 specifics. That's why I want to focus on
18 extended-release dosage form only so that makes
19 hopefully our discussion more targeted and much
20 more simplified

21 Does that make any sense to you?

22 DR. DONOVAN: It does, but I think perhaps I

1 wasn't clear about what I thought was a relatively
2 simple occurrence in an extended-release dosage
3 form. But in trying to think quickly of how
4 to -- I guess maybe another way of making my point
5 about clinical relevance is that plasma
6 concentration isn't necessarily the best readout of
7 product performance or clinical effectiveness. I
8 mean, this is more of a PD argument, and I'm --

9 It would be really difficult to do a PKPD
10 type request, so that's excessive. I certainly
11 appreciate, but I'm concerned that with the focus
12 on the plasma concentrations, that, again, some of
13 the requirements for the IVIVC or the PBPK modeling
14 become over-predictive or over-assessing a
15 particular need.

16 What I'm trying to say is I understand in an
17 individual product, that that's necessary. But as
18 I start to think about ANDAs and whether the exact
19 match of a profile that has no clinical readout,
20 it's just a challenge to -- I'm sure the FDA thinks
21 about that and has no response. And I'm not asking
22 for one, but it's what I'm struggling with. With

1 this stage of this, it's not very far down the path
2 to ask that question and how to provide information
3 to the FDA that I think is useful and won't have to
4 be stopped and reevaluated not too far down the
5 path.

6 DR. YU: So I maybe answer two ways. One is
7 to come back to the comments, Dr. Mike Kopcha made,
8 related to the risk based. What kind of risk are
9 we facing? We know the in vivo target. But when
10 the in vivo target is unclear, where the PK is not
11 predictive, certainly these things are very
12 sophisticated.

13 I have been with the agency for many, many
14 years, and one of the products we involve, myself
15 involved, I look at it extensively, look at the
16 possible clinical indications, and the PK profile
17 feels like there is some risk and I'm not quite
18 sure. But a potential risk may not be high.

19 When you approve this and the patients come
20 back with multiple complaints because of their
21 equivalence issue involved here, part of the reason
22 is the following. When innovator puts a market in

1 place, there's a patient population. But when the
2 generic comes, that actually focuses on not all,
3 every single patient. There's actually a small
4 percent of a patient, which is the innovator, which
5 is already effective.

6 So therefore, the generic comes. You have
7 to be in a way absolutely equivalent to innovate
8 that. If not, potentially two subjects are not
9 equivalent [indiscernible]. Now, in statistical
10 terms, it may not be relevant, but if used 1
11 million times, if 5, 10, or 20 patients are not
12 effective, they will impact it.

13 I often find it a difficult argument for the
14 overall 1 million population, you find, well, only
15 3 percent, and the difference will not be
16 statistically significant, so therefore not
17 relevant. But that 1 million patient, when you
18 talk about half million, which is already uses
19 product, which is already effective, if a generic
20 come in, there's some significant -- some change
21 among this population, now the difference becomes
22 very significant. In the marketing place, people

1 begin to recognize the difference. Frankly, my own
2 experience, I look at a PK and saw it's no big
3 deal, but at the end, it's very significant. The
4 agency is very cautious in order to improve the
5 subsequent change of innovator or generic, one, to
6 make sure they're indeed absolutely equivalent to
7 generics.

8 DR. AMIDON: Thank you. Dr. Cook, did you
9 have another point to make or comment?

10 DR. COOK: I didn't at the time when I said
11 no, but now I want to go on a couple of things.
12 First, I think what you're talking about, Lawrence,
13 switchability [ph], and I don't think that's
14 necessarily on the table today to talk about. I
15 think, back to answer your question, why we often
16 have really good relationships between what drives
17 efficacy -- and maybe it is AUC and you don't need
18 to worry about Cmax -- we don't often have the same
19 handle on safety.

20 So I think that's why often we may have what
21 some people might consider an extra parameter in
22 Cmax to look at just because we haven't developed

1 that relationship to know what exactly drives it.
2 You can always do a efficacy study to get your
3 product done, but nobody does that because it's
4 actually harder to show equivalence of two
5 formulations than it is to prove that your
6 formulation works versus a placebo.

7 So we default to the PK, and when we due PK,
8 everybody has decided that a 20 percent difference
9 in Cmax probably is going to be okay. That's
10 probably even more on a safety side for most
11 products than it is on an efficacy side. So that
12 may be one of the reasons why it looks like an
13 extra standard when it's not needed.

14 I don't know if that helped you on your
15 question or not.

16 DR. DONOVAN: That's a bit of a tangent, but
17 it's okay.

18 DR. COOK: Okay.

19 DR. AMIDON: Dr. Li?

20 DR. LI: Tonglei Li from Purdue University.
21 I definitely shared FDA's vision of IVIVC or IVIVR.
22 I think it's important not only to ensure the

1 safety and efficacy of drug products -- and also,
2 again from a drug development perspective, I think
3 if can also help rationale design of formulation
4 and product quality.

5 I just have a general comment or suggestion
6 to express a point raised by Dr. Yu in your last
7 slide. For me, I think any future development of
8 the dissolution test need to consider drug
9 absorption or permeation, whether that's done
10 implicitly or explicitly. From a chemical kinetic
11 or a mathematical perspective, I think the current
12 approach is an attempt to match the load
13 dimensionality observation or measurement to hide
14 dimensionality observation.

15 So right now you show the data of IR
16 release. I think that arm of drug absorption is
17 compressed. That's why you can see a better
18 correlation. But I think for modified release or
19 extended release, that arm needs to be considered
20 into the development of any IVIVC or IVIVR. Again,
21 that's just a general comment.

22 DR. AMIDON: We have Dr. Sun and then

1 Dr. Smith.

2 Dr. Sun?

3 DR. SUN: One comment and one suggestion.
4 One comment for Dr. Yu's slide 65. I think if
5 everybody can see 65, Dr. Yu's presentation, it's
6 my understanding here that that's a perfect
7 illustration.

8 So the paper published by Dr. Janet
9 Woodcock, Dr. Yu, and Dr. Khan in New England
10 Journal of Medicine, we all know that story, the
11 withdrawal of ibuprofen, one of the generic
12 product. That paper and the news, it would be nice
13 if you can have an in vitro dissolution to catch
14 this before you come to this point, and after
15 patient use, the patient complains there's no
16 bioequivalence, the later form is not
17 bioequivalent.

18 If in vitro testing captures, it would be
19 really, really nice. The problem is I don't think
20 currently there's a test you can catch this. So
21 that's the number one problem. Now, that's why the
22 full extended-release or modified release, the

1 IVIVR is so critical at this point. So I feel the
2 IVIVR for this situation and the PBPK could link
3 together. You cannot really separate those two
4 independent things. Really, you do that, you model
5 that. That's the ideal situation.

6 In this paper, I think you guys made
7 assumptions -- made a suspicion, or maybe the
8 generic is released too early. That's the only
9 suspicion at that time that you have, but after
10 later study, that's perhaps not true either. My
11 impression right now, my hypothesis is because this
12 drug no complete release in colon [indiscernible]
13 or later GI track.

14 Do I have that data? I don't, but I feel
15 from other recent study of published data, I more
16 believe it's now complete releasing later in GI
17 tract. Maybe that's more plausible than earlier
18 release. There's early release for sure, but later
19 may be the problem. The question is do we have in
20 vitro testing to capture that? We don't? So
21 that's the problem I see.

22 So really then, the idea is for this IVIVR I

1 feel is so critical, can we develop something to
2 capture this? Then you can solve a lot of
3 problems. So that's my comment.

4 My suggestion would be PBPK, this term, is
5 very similar to the term biopharmaceutics. You
6 talk to different people. They have a different
7 definition. PBPK is the same. When PBPK got first
8 introduced, it was get a PK of the animal model,
9 all the tissue, and then you scale that in human.
10 For traditional pharmacokinetics, that's the
11 definition. There they are trained. There they
12 are taught. There they're teaching students.

13 Now PBPK is extended to more GI. Now it's
14 using pharmaceutical quality. I think the
15 definition is changing, but I think for the whole
16 community, my impression is people still use
17 different definition for PBPK. So it's a good idea
18 to maybe somehow consolidate this different
19 definition and make sure everybody is understanding
20 the same page. Otherwise, we may talk about
21 different things.

22 DR. AMIDON: Should we go to Dr. Smith?

1 DR. SMITH: Paul Smith, University of
2 Maryland, College Park. It appears to me that the
3 discussion's been very useful, but I think that the
4 proposal that we we're supposed to vote on is in a
5 sense premature. What seems to be missing,
6 although the speakers alluded to its importance, is
7 the idea that we could combine some kind of
8 mathematical or computational information to
9 bolster the in vitro testing that could be done in
10 the hope that it would then be possibly related
11 accurately enough to what would happen in the
12 system of a given patient.

13 I'm not sure -- and maybe people here can
14 enlighten me -- whatever models exist for the
15 dissolution of some product as it passes through
16 the different stages of the digestive tract. I
17 don't know whether there have been studies. There
18 must have been. And it seems to me that the place
19 to begin this project is by trying to get a better
20 grasp on, to the extent possible, data on what
21 happens in the human with perhaps harmless
22 substances.

1 And surely these kinds of studies have been done,
2 and only then can we start to talk about attempting
3 to create standards.

4 I would feel, on the basis of that thought,
5 that the question that's going to come before us is
6 perhaps not the right question to be asking at this
7 time.

8 DR. AMIDON: Okay. Dr. Yu?

9 DR. YU: Could I come back? You're
10 absolutely right. In order to -- you have an
11 in vitro dissolution, which we don't have a lot.
12 We have a different apparatus, different media,
13 different conditions. We have the
14 pharmacokinetics; bioequivalent study, we have done
15 a lot. What's lacking is the in vivo absorption
16 part. We have a very limited understanding. We
17 have some kind of understanding, but not sufficient
18 enough to actually see what is going on. And there
19 are not a lot of studies going on.

20 So we're hoping that when we ask those
21 questions, we begin to encourage academia or
22 industry to begin to have a better understanding in

1 those conditions or in vivo dissolution to
2 facilitate eventual development of the
3 in vivo/in vitro relationship, because as a
4 regulatory agency, we cannot say, well, industry,
5 please go do the study understanding in vivo
6 absorption and in vivo dissolution because it's
7 related, but it's not related to our quality
8 standards setting.

9 So therefore, we ask a general question, but
10 we recognize under this question, there's multiple
11 information or data that I think academia,
12 industry, and FDA together need to continue to have
13 a further understanding and better understanding.
14 So with this support from you, at least we begin to
15 start to making progress; otherwise we're going to
16 start where we are. We will have very limited
17 progress as we've made for last 20 years.

18 DR. SMITH: But that doesn't support and an
19 advice to establish because -- and fairly, we're
20 years from being able to establish standards.
21 Perhaps the proposal should be to
22 investigate the possibility or some less

1 prescriptive term, although -- this is a side
2 comment -- I don't like human focus either.

3 DR. YU: Thank you.

4 DR. AMIDON: Dr. Sun?

5 DR. SUN: To follow up with Dr. Smith's
6 question, I totally agree with you for the point we
7 do need to collect more human data in order to
8 validate things. That's the part I really do
9 agree. The part I have different opinion is that
10 in terms of PBPK in this biopharmaceutical area,
11 there's actually quite a lot of work already done
12 and lost obviously 20 years.

13 So the currently used software, the
14 GastroPlus Simcyp, actually is based on -- the
15 foundation is the earlier PBPK model. So in a way,
16 it is in use already. The whole industry's using
17 it, FDA's using it, academia is using it. So this
18 is not a starting point. It's already 20 years of
19 work there, so there's some foundation.

20 The challenge, I agree with you, there's
21 still a lack of human data to really truly
22 validate. But the good thing is, even in that

1 foundation, those are all the software available
2 for the whole industry and whole community to use.
3 So really, I don't think it's premature. I think
4 it's perhaps good timing. This is long overdue and
5 needs to move forward. Otherwise, we're stuck for
6 another 20 years.

7 I thought I had another point, but it
8 slipped my mind. I will comment later.

9 DR. AMIDON: Yes, Dr. Finestone?

10 DR. FINESTONE: Sandra Finestone, consumer
11 representative. I just have a question or a point
12 of clarification. I'm looking at the objective
13 here. The objective of developing an IVIVC is to
14 establish a predictive mathematical model
15 describing the relationship between an in vitro
16 property and a relevant in vivo response. That's
17 what I'm hearing in a nutshell. That's what you're
18 asking.

19 I'm also hearing that that's not possible
20 because we don't have that, except I think I just
21 heard you say --

22 DR. YU: Let me quickly clarify. Actually,

1 that's another point. We do have in vivo data in
2 the last five years from Europe and U.S. So we
3 have some, but of course, especially for extended
4 release, we don't have it yet. But we have other
5 in vivo data. So we do have some reference
6 already. Of course, you need more. That's what I
7 remembered.

8 DR. FINESTONE: So now I'm understanding it
9 more. So there is some data. It's just not enough
10 to do what you're asking to do, which is an in
11 vitro test could possibly replace the in vivo PK,
12 which would do what I would hope what you're
13 suggesting, which is to minimize the need for
14 unnecessary human testing. That's certainly my
15 objective to this.

16 So the testing is there. There's just not
17 enough to do what you want to do. So the question
18 is do we need more of the IVIVC testing or not? Is
19 that what you want to have done? I guess I'm a
20 little bit confused about also the objective that
21 you're asking for.

22 DR. YU: Yes. We are seeking the support

1 from this committee to move in that direction,
2 which is a batter in vivo/ in vitro relationship
3 with the development of this arena because this has
4 been here for the last 20 years. We're hoping we
5 get advances in this area. Eventually, if we
6 develop a methodology, if we have enough
7 information in place, we're probably able to reduce
8 future in vivo studies to benefit our patient in
9 the end. But we need to have an initial investment
10 in this arena.

11 DR. FINESTONE: So you're asking industry
12 for more data to support this theory forward.

13 DR. YU: To a certain extent, yes.

14 DR. FINESTONE: Thank you.

15 DR. KOPCHA: Right. And with that, if I
16 could just add -- this is Mike Kopcha. If I could
17 just add to that as well, because the way the
18 question is worded, should FDA establish
19 patient-focused dissolution standards, if the
20 answer to that is yes, what that implies then is
21 that we need to generate that data.

22 So I don't think it's the wrong question.

1 What that question then does, or depending upon the
2 answer to that question, will then drive what we
3 need to do to get to that quality standard. So
4 hopefully that kind of rounds that all out.

5 DR. AMIDON: We have no more names on the
6 list here, but we do have a little bit of
7 flexibility on our schedule. We've been going for
8 quite a while, but I'd like to see if there any
9 other questions, clarifying questions. And in
10 particular, I recognize, I suppose first, those
11 that maybe haven't had a chance to ask a question
12 if they have any. Yes? Dr. Terzic, please?

13 DR. CARRICO: Sorry.

14 DR. AMIDON: I'm sorry. Wrong person.

15 DR. CARRICO: Jeff Carrico. Sorry. I'll
16 answer to whatever you call me if you like.
17 Really, just more of a statement than a summary at
18 the end, and playing off of the comment that was
19 just made.

20 The way that I am viewing this, and you can
21 tell me if I'm correct, is that the question is
22 more of a step towards what you're wanting to do

1 than an end product. So I think that's what's led
2 to some of the discussion that we've had, is that,
3 rightfully so, the way it is written, it's almost
4 like that this we're voting on whether or not we're
5 going to have this idea or this capability. But
6 really what we're voting for today, in my opinion,
7 is the step toward the availability or capability
8 of what we're looking at here.

9 Is that correct?

10 DR. KOPCHA: Right. The way we define it is
11 that we need to know, does the FDA need to
12 establish these patient-focused dissolution quality
13 standards. And if the answer to that is yes, then
14 obviously we've got to take the steps to do the
15 work to be able to get there because you just want
16 to make sure that whatever work we're doing is
17 driving towards setting those standards, but we
18 need the feedback from this group to say, yes, you
19 should be setting those standards, so do what you
20 need to do.

21 Or the way we were thinking about it when we
22 posed that question is if we got yes, that would

1 mean do the work, OPG, to get to those quality
2 standards. We'd be able to establish those quality
3 standards to support the research and additional
4 work that we have to go through.

5 DR. CARRICO: Thank you for that.

6 DR. KOPCHA: So you are correct.

7 DR. CARRICO: And while I have the
8 microphone, I'll sixth, or whatever, the idea to
9 continue to clarify the use of the word "patient"
10 as we move forward with this. I just didn't jump
11 in with that one since it had been stated, but I
12 think through enough of us, maybe that should be a
13 point going forward, that if it caused enough of us
14 to stumble on it, then you may get that from
15 others.

16 DR. KOPCHA: Good point, fair enough, and
17 point taken. If the group has recommendations,
18 we'd appreciate that as well. So feel free to
19 provide us with that either today or subsequent to
20 this meeting.

21 DR. TERZIC: Since I brought up first the
22 patient term --

1 (Laughter.)

2 DR. TERZIC: -- and since I have heard that
3 our colleagues in the FDA would like to keep it,
4 although my colleagues here are a little bit
5 ambivalent, you may want to change the term
6 "focused." You could use the other term that
7 you're using a lot, which is relevant. You can use
8 "patient" relevant that.

9 DR. KOPCHA: We've tried that, and the
10 industry has come back to us and said, "You know
11 what that means, Mike? That means now we've got to
12 do all these clinical studies." And they said, no,
13 that's not what we're implying.

14 We've tried other versions, and this is
15 probably the most innocuous one, but apparently it
16 doesn't seem to be as innocuous as we thought.

17 DR. TERZIC: I use the fact that I'm not a
18 native English speaker to suggest --

19 (Laughter.)

20 DR. TERZIC: -- that. Keep on trying,
21 includes the most benevolent than anything else.

22 The other term that you may want to consider

1 in the questions, dissolution appears too isolated.
2 Our colleagues brought it back, dissolution and
3 absorption. In other terms, the drug levels in the
4 blood were also not viewed as maybe the true
5 endpoint. Simply using the term "predictive," so
6 in other words, the idea of establishing patient-
7 relevant predictive standards, then you open up a
8 little bit of horizon, and it's more preparative to
9 what ultimately you want to do. So I suggest an
10 earlier maybe stage at which you are. That will be
11 it. Thank you.

12 DR. KOPCHA: Thanks for the suggestions.

13 DR. AMIDON: We have Dr. Cook and Dr. Awni
14 on the list here, but I'm going to ask you if, if
15 you have clarifying questions, you could ask.
16 Otherwise, we'll have opportunity for discussion.

17 Is it good to hold off till discussion?
18 Okay. It is now 3:00, so I propose a 10-minute
19 break. So we'll pick back up at 3:10. Just as a
20 reminder, no discussion of these issues amongst us
21 or amongst any of the visitors in the room. There
22 may be, again, a meeting going on next-door, so

1 just be aware of that. See you in 10 minutes.

2 (Whereupon, at 3:00 p.m., a recess was
3 taken.)

4 **Questions to the Committee and Discussion**

5 DR. AMIDON: Okay. I think we're all
6 reassembled here. There are no statements from the
7 public as I understand it. So we will now proceed
8 to the question to the committee based on our
9 discussion that we've had. I would like to remind
10 any public observers that while this meeting is
11 open to the public for observation, public
12 attendees may not participate except at the
13 specific request of the committee.

14 So at this point I'd like to bring up the
15 question to the committee and ask you to consider
16 this, and see if there are any comments or
17 questions concerning the wording of the question.
18 So we're now open for discussion on this particular
19 question that we'll be voting on.

20 Any comments or questions? Dr. Smith?

21 DR. SMITH: I'd like to suggest a rewording
22 of the question. I would modify it as follows.

1 Should the FDA develop the scientific basis for
2 establishing patient focused dissolution standards,
3 et cetera, et cetera?

4 DR. AMIDON: I think we should consider the
5 wording as it is and maybe be careful about
6 wordsmithing it. I think -- and talking a little
7 bit to others -- that we should discuss these
8 questions and concerns we have, but vote on this, I
9 would say, if it's acceptable, and have the
10 opportunity then, if it's not, for clarifying
11 comments and recommendations.

12 So does that make some sense? I want us not
13 to go too far down the wordsmithing path on this,
14 but points, concerns, pro and con, are important.
15 So does that make some sense?

16 DR. SMITH: Sure.

17 DR. AMIDON: Dr. Polli, you want to add?

18 DR. POLLI: I think Dr. Smith raises a good
19 point, and maybe I just read it differently. To
20 me, I think I understand it. I mean, to your
21 point, it's not like -- I don't think this question
22 is suggesting that this be implemented tomorrow.

1 And then if the products don't show predictive CMA
2 after they come off the market -- I interpret this
3 exactly as maybe you've articulated it in your own
4 mind, that it's more about putting things in place
5 such that the numbers get better in terms of
6 applicants actually having predictive dissolution
7 models that do predict their, for example,
8 pharmacokinetics, putting more incentives in place
9 and things of that sort.

10 So to me, the question is actually pretty
11 clear and similar to what you described.

12 DR. AMIDON: Good. Thank you. Dr. Sun?

13 DR. SUN: The same based on -- because six
14 members raised the question of the patient. It
15 seems that we have a split opinion on the patient.
16 For pharmaceutical science, like my background, I
17 did not read the concern you have. To me, I said
18 this is very good. This is very
19 personable [indiscernible]. I understand what you
20 exactly mean, but to me, it did not
21 cause -- misunderstanding patient means different
22 disease population.

1 But I recognize when you guys with different
2 background, you immediately -- something else comes
3 to your mind is disease. I feel that's the
4 situation. It depends who your audience is. For
5 pharmaceutical science and dissolution scientists,
6 I feel this is very good. I know exactly what you
7 mean. So that's my comment.

8 DR. AMIDON: Dr. Mager?

9 DR. MAGER: I don't have a problem with it
10 per se, but immediately when I read it, it just
11 brought up those old arguments about we should be
12 doing bioequivalent studies in patients, not
13 healthy volunteers. And that's the first thing
14 that popped into my head when I saw the word
15 "patient focused," is that we are moving towards
16 disease-specific predictions, and of course that's
17 not where we're talking about.

18 DR. AMIDON: I had a comment, too. I
19 suppose in some way, Drs. Smith and Polli, it
20 touches on what you were saying. I looked at this
21 and thought, to me this maybe doesn't go far
22 enough. But again, I don't want to really

1 wordsmith it. But to me conveying how FDA and
2 industry can proceed by advancing the application
3 of computational, mechanistic, predictive, and
4 biorelevant dissolution methods, to my way of
5 thinking are the paths forward to achieving this.

6 So those are the thoughts that I had in
7 reading this. To me, it doesn't necessarily
8 clearly indicate the direction that one could go in
9 doing this, but that's just my --

10 Dr. Sun?

11 DR. SUN: Quick, if we have to change -- I
12 understand Dr. Smith's point. The wording you
13 have, actually, I understand is the same way being
14 tended to, but that to me is somewhat a
15 wag [indiscernible] also. When I scientific basis,
16 it's very broad in a sense.

17 If we have to change, another wording -- we
18 don't have to change it. We can just put
19 it -- establish a biorelevant dissolution, then
20 there's no patient anymore. Then you go back to
21 the old wording, "biorelevant." At least you don't
22 cause confusion. So I guess I agree with you that

1 maybe we don't put it as -- whatever wording you
2 use, put as a consideration without changing things
3 right now for discussion.

4 DR. AMIDON: Good. Any other comments or
5 concerns regarding the question at hand, that you'd
6 like to bring up before we proceed to a vote?
7 Dr. Donovan?

8 DR. DONOVAN: And I'm not suggesting
9 wordsmithing, but I will tell you, the word that
10 I'm uncomfortable with is actually "establish,"
11 because as I read this, it strikes -- if I were to
12 read it when not having been listening to this
13 discussion, it would look to me like FDA is going
14 to make another regulatory standard, whereas,
15 really, my understanding after the discussion is
16 that FDA is interested in developing and working
17 with people to establish oftentimes
18 product-specific, patient-focused, in quotes,
19 "dissolution standards."

20 So I at least want it on the record that
21 right now as it reads, it strikes me that others
22 might perceive it as the FDA is going to develop

1 their own regulatory standard regarding this. And
2 I think as it's played, that it should be clear
3 that FDA wants to partner and encourage the
4 establishment and understanding of those methods.

5 DR. AMIDON: Good. Thank you. I actually
6 had a concern about that, the word "established" as
7 well for a slightly different reason. I think FDA
8 in some ways has established, so to me it's more
9 advancing or moving the science forward; a little
10 bit different take but still same question about
11 that word per se.

12 Anything else from anyone? Dr. Cook?

13 DR. COOK: Thank you. The chair recognized
14 me. I've got one comment and then two comments
15 that pertain to maybe helping out here. And the
16 one comment is I understood where you're coming
17 from. I guess I've been -- I won't say
18 old -- experienced enough to be in where we're
19 trying to establish it as something in process
20 control a QC standard, or are we trying to assure
21 bioequivalence? And seeing how those two never fit
22 exactly right, I empathize with how we struggle.

1 A couple of things to consider. You've put
2 the focus a little bit on ER, extended-release
3 products. I actually think those are more rare in
4 development, and we might be faster to also include
5 class 2 compounds that are indeed highly permeable
6 where dissolution is the rate driver, because I
7 think we can learn a lot from those, and those are
8 more common in development.

9 Then something that I struggle with a little
10 bit at my company, and I'm trying to figure out how
11 we can make this happen more, is that the idea of
12 developing an IVIVC is not a one-study thing. And
13 too many times -- especially if you use it on ER as
14 a product extension. Now, I'm going to do one
15 study. I'm going to develop it. I've got to have
16 the dissolution test right and everything; oh, it
17 didn't work out.

18 I've written a paper on this, that actually
19 maybe what you should do is before you ever enter a
20 drug and demand [indiscernible], you usually have a
21 dissolution test. And usually a prediction of PK,
22 you can actually test what you think the results

1 are and then adjust either the dissolution tests or
2 the in vivo PK to reflect what goes on. And then
3 in your next study, you can continue to do that.

4 Then finally, the IVIVC is actually a
5 confirmatory trial if all works out that way.
6 That's not the norm. Anything you could think of
7 that to help promote that -- and I'll continue to
8 try to promote that within. But there's so much of
9 a learning opportunity during drug development that
10 we don't take advantage of. And that's why I want
11 to focus on class 2 compounds because I think
12 they're the more often, and that's where we're
13 going to learn, and that's where we're going to
14 accelerate.

15 DR. AMIDON: Okay. Thank you. Any other
16 comments?

17 (No response.)

18 DR. AMIDON: Seeing none, we can move on to
19 the voting process. I will remind you of the
20 voting process. We'll be using the electronic
21 system again for this meeting. Once we begin the
22 vote, the buttons will start flashing as you see

1 them on your microphone, and they will continue to
2 flash after, even after you have entered your vote.

3 So please, when we tell you to, press the
4 button firmly to acknowledge that corresponds to
5 your vote. If you're unsure if your vote was
6 registered or you want to change your vote, you can
7 press the corresponding button until the vote is
8 closed.

9 So after everyone has voted, the vote will
10 be locked in, and the vote will then be displayed
11 on the screen. The DFO will read the results of
12 the vote for the record. Next then, we'll go
13 around the room, and each individual who voted will
14 state their name and their vote into the record.
15 We've had great discussion this afternoon, so you
16 can also state the reason you voted as you did if
17 you want to, and you can add any clarifications to
18 that. We've had a good deal of discussion on this
19 topic.

20 So I think we're ready now to vote, so
21 please enter your vote on this question.

22 (Voting.)

1 CDR SHEPHERD: For the record, the vote is
2 11, yes; zero, no; zero abstain.

3 DR. AMIDON: Good. Thank you. Now that the
4 vote is complete, we're going to go around the room
5 as I mentioned. Please state your name, how you
6 voted, and the reason why you voted as you did into
7 the record. We'll start over here on my right with
8 Dr. Donovan and go around the room.

9 DR. DONOVAN: Maureen Donovan, University of
10 Iowa. I voted yes. I strongly encourage this
11 action. I think it contributes to our
12 understanding of drug products and drug product
13 action. And in the long run, we'll improve quality
14 standards. So I think it's a win for everybody.

15 DR. SUN: Duxin Sun. I vote for yes. This
16 is long overdue, and it's really can helped to
17 improve the quality, can tell the different
18 quality, which can show the difference in human.

19 DR. LI: Tonglei Li, Purdue University. My
20 vote is yes, and I share the vision of FDA. And I
21 truly believe this effort will promote and advance
22 the pharmaceutical sciences, as well as regulatory

1 studies of pharmaceutical products. Thank you.

2 DR. FINESTONE: Sandra Finestone, consumer
3 representative. I voted yes. It sounds to me, if
4 my understanding is correct, that this will add to
5 the body of information that will subsequently help
6 patients.

7 DR. MAGER: Don Mager. I voted yes pretty
8 much for the reasons that have been stated. I
9 think it's long overdue, and the science is there.
10 I think we can begin to move forward in that way.
11 I would, again, highly encourage a model-informed
12 approach with more advanced PBPK modeling
13 approaches to improve predictability, and then to
14 make that link that you were referring to, the
15 IVIVC, such that those predictions can be then
16 generated in an easier manner. And then, I agree
17 with Dr. Cook that eventually these become
18 confirmatory trials instead of exploratory trials.

19 DR. AMIDON: Greg Amidon. I voted yes. I
20 agree with those sentiments already expressed that
21 this is very much a do. I think this gives us an
22 opportunity to have FDA and industry proceed

1 forward to advance the application of the tools
2 that we've discussed here: computational tools,
3 PKPD modeling in combination with predictive and
4 biorelevant dissolution methods to advance our
5 understanding of extended-release dosage forms.

6 DR. CARRICO: Jeff Carrico, NIH. I ted yes.
7 think that the clarifications, qualifications, and
8 discussions that we've had today are a great first
9 step towards the achievement of this goal.

10 DR. TERZIC: Andre Terzic. I voted yes.
11 This is a laudable effort by our FDA colleagues.
12 It's a timely effort both from an unmet need that
13 exists and also with the evolution of technology
14 that will facilitate a science-based approach to
15 this topic. I think the comments, as mentioned
16 previously, during this session will help the FDA
17 advance this topic rapidly forward.

18 DR. SLATTUM: Patty Slattum at Virginia
19 Commonwealth University, and I voted yes for the
20 reasons already expressed and the clarifications
21 that came in the discussion today. The direction
22 is clear and I think one that's laudable and we

1 should be doing. I think there are a few things
2 that we can think about in the wording of how we
3 describe it so that it's clear to all the
4 stakeholders.

5 DR. SMITH: I'm Paul Smith from University
6 of Maryland, College Park. I voted yes. There's
7 no question that this would be a valuable
8 scientific enterprise, and properly explained to
9 the public, I believe that it's something that's
10 going to have wide support.

11 DR. POLLI: James Polli, University of
12 Maryland. I voted yes. I do like the term
13 "patient focused." I understand it can have
14 different meanings and different contexts, but I
15 think to a lot of pharmaceutical scientists, it
16 clearly points as being something different from QC
17 testing, which we're all very familiar with.

18 I think there's a lot of confidence in QC
19 testing, and QC testing does go towards therapeutic
20 benefit, but I think it's also fair to say -- I
21 would agree with this that we're probably overdue
22 for this. Something like this will give greater

1 opportunity for development teams to invest in,
2 needing to know about how their product performs.

3 I really kind of locked on to Dr. Seo's
4 slide about it's seen as an all or nothing, and it
5 certainly is. How many of us can stand next to our
6 favorite dissolution apparatus and say I'm going to
7 predict Cmax within 10 percent using this? And if
8 I don't, if I fail -- I didn't see the word "fail,"
9 but I think Dr. Seo mentioned reluctance. There's
10 certainly reluctance in standing next to a
11 dissolution apparatus and saying you're going to
12 predict Cmax within 10 percent.

13 So I think development teams need a little
14 more help in terms of being encouraged to put more
15 time into the quality question. I think they do
16 that already, but encouraging that even a little
17 bit more.

18 DR. AMIDON: Good. Thank you. Before we
19 adjourn, are there any last comments from FDA?

20 DR. KOPCHA: Yes. I just want to take the
21 opportunity to thank the panel for engaging in this
22 discussion with us. It was a very good discussion.

1 A lot of good things came out of it, a lot of good
2 recommendations, and we'll take those to heart as
3 we read through the minutes of the meeting and make
4 sure that we address those as we drive this
5 further.

6 I know it's taken probably more than a day
7 if you put it in the travel there for some of you,
8 so I do appreciate the efforts and the interest and
9 the desire to help us really drive this forward so
10 that we can better serve the public in terms of the
11 quality products we bring to market. So thank you.

12 I also want to thank the members of my OPQ
13 team that actually helped put this together, this
14 advisory committee. It required a lot of work and
15 a lot of time to do that, so I want to thank my
16 staff. Too numerous to do right here, but I do
17 want to recognize their efforts as well. So again,
18 thank you.

19 **Adjournment**

20 DR. AMIDON: Great. Thank you very much,
21 and thanks to all of you for taking the time to
22 come here as well.

1 Please take everything, all your personal
2 belongings with you as you leave the room. All the
3 materials that are left on the table will be
4 disposed of, so just keep that in mind. I guess
5 they are able to take along the materials that
6 have been provided, if you wish. So you may take
7 them if you wish, as I understand it.

8 Please remember to drop off your badge at
9 the registration table, just right outside I think
10 here, on your way out so that they can be recycled,
11 and we will adjourn for the meeting. Thank you
12 very much. Have a safe trip.

13 (Whereupon, at 3:33 p.m., the afternoon
14 session was adjourned.)

15
16
17
18
19
20
21
22