

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH  
  
JOINT MEETING OF THE PSYCHOPHARMACOLOGIC DRUGS  
ADVISORY COMMITTEE (PDAC) AND THE DRUG SAFETY AND  
RISK MANAGEMENT ADVISORY COMMITTEE (DSaRM)

Virtual Meeting

Thursday, October 8, 2020

10:02 a.m. to 4:38 p.m.

**Meeting Roster****ACTING DESIGNATED FEDERAL OFFICER (Non-Voting)****LaToya Bonner, PharmD**

Division of Advisory Committee and  
Consultant Management

Office of Executive Programs, CDER, FDA

**PSYCHOPHARMACOLOGIC DRUGS ADVISORY COMMITTEE****MEMBERS (Voting)****Walter S. Dunn, MD, PhD**

Assistant Clinical Professor

University of California Los Angeles (UCLA)

Department of Psychiatry

Director, Mood Disorders Clinic

West Los Angeles Veterans Administration

Medical Center

Los Angeles, California

1     **Jess G. Fiedorowicz, MD, PhD**

2     Head and Chief, Department of Mental Health  
3     The Ottawa Hospital  
4     Professor and Senior Research Chair in Adult  
5     Psychiatry, Department of Psychiatry  
6     University of Ottawa  
7     Ottawa, Ontario

8

9     **Satish Iyengar, PhD**

10    Chair and Professor of Statistics  
11    Department of Statistics  
12    University of Pittsburgh  
13    Pittsburgh, Pennsylvania

14

15    **Felipe A. Jain, MD**

16    Assistant Professor of Psychiatry  
17    Harvard Medical School  
18    Director of Healthy Aging Studies  
19    Depression Clinical and Research Program  
20    Massachusetts General Hospital  
21    Boston, Massachusetts

22

1     **Jessica J. Jeffrey, MD, MPH, MBA**

2     Assistant Clinical Professor of Psychiatry

3     Associate Director, Division of Population

4     Behavioral Health

5     Nathanson Family Resilience Center

6     UCLA Semel Institute of Neuroscience and

7     Human Behavior

8     Los Angeles, California

9

10    **Sonia L. Krishna, MD, FAPA, DFAACAP**

11    Affiliate Faculty, Department of Psychiatry

12    Dell Medical School

13    The University of Texas at Austin

14    Austin, Texas

15

16

17

18

19

20

21

22

1     **Rajesh Narendran, MD**

2     *(Chairperson)*

3     Attending Psychiatrist

4     Re:solve Crisis Network

5     Western Psychiatric Institute and Clinics

6     Associate Professor in Radiology and Psychiatry

7     Psychiatric Molecular Imaging Program

8     University of Pittsburgh

9     Pittsburgh, Pennsylvania

10

11     **Patrick S. Thomas, Jr., MD, PhD**

12     Assistant Professor, Department of Psychiatry

13     Baylor College of Medicine, Menninger Clinic

14     Houston, Texas

15

16     **Kim O. Witczak**

17     *(Consumer Representative)*

18     Co-Founder, Executive Director

19     Woodymatters

20     Minneapolis, Minnesota

21

22

1       **PSYCHOPHARMACOLOGIC DRUGS ADVISORY COMMITTEE MEMBER**

2       **(Non-Voting)**

3       **Robert W. Baker, MD**

4       *(Industry Representative)*

5       Vice President, Clinical Program Design

6       Eli Lilly and Company

7       Lilly Corporate Center

8       Indianapolis, Indiana

9

10       **DRUG SAFETY AND RISK MANAGEMENT ADVISORY COMMITTEE**

11       **MEMBERS (Voting)**

12       **Denise M. Boudreau, PhD, RPh**

13       Senior Scientific Investigator

14       Kaiser Permanente Health Research Institute

15       Kaiser Permanente Washington

16       Professor (Affiliate)

17       Departments of Pharmacy and Epidemiology

18       University of Washington

19       Seattle, Washington

20

21

22

1     **Karim Anton Calis, PharmD, MPH, FASHP, FCCP**  
2     Director of Clinical Research and Compliance  
3     Office of the Scientific Director  
4     Eunice Kennedy Shriver National Institute of  
5     Child Health and Human Development  
6     National Institutes of Health  
7     Bethesda, Maryland  
8  
9     **Marie R. Griffin, MD, MPH**  
10    Professor of Health Policy, Emerita  
11    Department of Health Policy  
12    Vanderbilt University  
13    Nashville, Tennessee  
14  
15    **Laurel A. Habel, MPH, PhD**  
16    Associate Director, Cancer Research  
17    Division of Research  
18    Kaiser Permanente Northern California  
19    Oakland, California  
20  
21  
22

1 **Sonia Hernandez-Diaz, MD, MPH, DrPH**

2 *(Chairperson)*

3 Professor of Epidemiology

4 Department of Epidemiology

5 Harvard T.H. Chan School of Public Health

6 Boston, Massachusetts

7

8 **Martin Kulldorff, PhD**

9 Professor of Medicine and Biostatistician

10 Division of Pharmacoepidemiology and

11 Pharmacoconomics

12 Department of Medicine

13 Harvard Medical School and Brigham & Women's

14 Hospital

15 Boston, Massachusetts

16

17 **Steven B. Meisel, PharmD, CPPS**

18 System Director of Medication Safety

19 M Health Fairview

20 Minneapolis, Minnesota

21

22

1     **Lewis S. Nelson, MD**

2     Professor and Chair

3     Department of Emergency Medicine

4     Chief, Division of Medical Toxicology

5     Rutgers New Jersey Medical School

6     Newark, New Jersey

7

8     **DRUG SAFETY AND RISK MANAGEMENT ADVISORY COMMITTEE**

9     **MEMBER (Non-Voting)**

10    **Reema J. Mehta, PharmD, MPH**

11    *(Industry Representative)*

12    Senior Director, Head of Risk Management and

13    Safety Surveillance Research

14    Pfizer, Inc.

15    North Peapack, New Jersey

16

17

18

19

20

21

22

1       **TEMPORARY MEMBERS (Voting)**

2       **Traci C. Green, PhD, MSc**

3       Professor

4       Heller School for Social Policy and

5       Management Director

6       Opioid Policy Research Collaborative

7       Brandeis University

8       Waltham, Massachusetts

9

10      **Brandon D.L. Marshall, PhD**

11      Associate Professor

12      Department of Epidemiology

13      Brown University School of Public Health

14      Providence, Rhode Island

15

16

17

18

19

20

21

22

1     **Christopher R. McCurdy, BSPH, PhD, FAAPS**

2     Director

3     UF Clinical and Translational Science Institute

4     Translational Drug Development Core

5     Professor of Medicinal Chemistry

6     Department of Medicinal Chemistry

7     University of Florida, College of Pharmacy

8     Gainesville, Florida

9

10    **James J. McGough, MD, MS**

11    Professor of Clinical Psychiatry

12    UCLA Semel Institute for Neuroscience &

13    Human Behavior

14    Los Angeles, California

15

16    **Philip Posner, PhD**

17    *(Patient Representative)*

18    Gainesville, Florida

19

20

21

22

1     **Jon E. Zibbell, PhD**

2     Senior Public Health Scientist

3     Behavioral Health Research Division

4     RTI International

5     Century Plaza 1

6     Atlanta, Georgia

7

8     **FDA PARTICIPANTS (Non-Voting)**

9     **Billy Dunn, MD**

10    Director (Acting)

11    Office of Neuroscience (ON)

12    Office of New Drugs (OND), CDER, FDA

13

14    **Eric Bastings, MD**

15    Deputy Director (Acting)

16    ON, OND, CDER, FDA

17

18    **Tiffany R. Farchione, MD**

19    Director (Acting)

20    Division of Psychiatry (DP)

21    ON, OND, CDER, FDA

22

1     **Bernard Fischer, MD**

2     Deputy Director (Acting)

3     DP, ON, OND, CDER, FDA

4

5     **Judy Staffa, PhD, RPh**

6     Associate Director for the Public Health

7     Initiatives

8     Office of Surveillance and Epidemiology (OSE)

9     CDER, FDA

10

11    **Tamra Meyer, PhD, MPH**

12    Team Lead, Nonmedical Use Team #1

13    Division of Epidemiology II (DEPI-II)

14    Office of Pharmacovigilance and

15    Epidemiology (OPE)

16    OSE, CDER, FDA

17

18    **Dominic Chiapperino, PhD**

19    Director

20    Controlled Substance Staff (CSS)

21    Office of the Center Director (OCD)

22    CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order	
4	Rajesh Narendran, MD	16
5	Introduction of Committee	
6	LaToya Bonner, PharmD	16
7	Conflict of Interest Statement	
8	LaToya Bonner, PharmD	27
9	FDA Opening Remarks	
10	Tiffany Farchione, MD	31
11	<b>Applicant Presentation - Arbor Pharmaceuticals</b>	
12	Summary Presentation	
13	AR19 (amphetamine sulfate)	
14	Manipulation-Resistant, Immediate-Release	
15	Capsules for the Treatment of ADHD	
16	Evan Scullin, MD	49
17	Stephen Faraone, PhD	52
18	Beatrice Setnik, PhD	55
19	Eric Kinzler, PhD	59
20	John Dillberger, DVM, PhD	61
21	Anthony Rostain, MD, MA	63
22		

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

C O N T E N T S (continued)

AGENDA ITEM	PAGE
Clarifying Questions to Applicant	66
Clarifying Questions to FDA	90
<b>Open Public Hearing</b>	119
Questions to Committee and Discussion	168
Adjournment	265

1                   P R O C E E D I N G S

2                   (10:02 a.m.)

3                   **Call to Order**

4                   DR. NARENDRAN: Good morning and welcome. I  
5 would first like to remind everyone to please mute your  
6 line when you're not speaking. For media and press,  
7 the FDA press contact is Lauren-Jei McCarthy. Her  
8 email and phone number are currently displayed.

9                   My name is Dr. Raj Narendran, and I will be  
10 chairing today's meeting. I will now call the October  
11 8, 2020 Joint Meeting of the Psychopharmacologic Drug  
12 Advisory Committee and Drug Safety and Risk Management  
13 Advisory Committee meeting to order. Dr. LaToya Bonner  
14 is the designated federal officer for today's meeting  
15 and will begin with the introductions. I'll hand it  
16 over to Dr. Bonner.

17                   **Introduction of Committee**

18                   DR. BONNER: Good morning. My name is LaToya  
19 Bonner, and I am the designated federal officer for  
20 today's meeting. When I call your name, please  
21 introduce yourself by stating your name and  
22 affiliation. Please also state for the record that you

1 have reviewed the FDA's and Arbor Pharmaceuticals'  
2 prerecorded presentations in their entirety. If you  
3 have not, please state the extent of your preparation  
4 for today's meeting.

5 We will start with Dr. Dunn. Please introduce  
6 yourself and your affiliation.

7 DR. W. DUNN: Good morning. My name is Walter  
8 Dunn. I'm an assistant professor at UCLA. I confirm  
9 that I have reviewed the material.

10 DR. BONNER: Next is Dr. Jess Fiedorowicz.

11 DR. FIEDOROWICZ: Yes. Hello. My name is  
12 Jess Fiedorowicz. I'm with the University of Ottawa  
13 and the Ottawa Hospital with an adjunct appointment at  
14 the University of Iowa. I have also reviewed the  
15 materials.

16 DR. BONNER: Next is Dr. Iyengar.

17 DR. IYENGAR: Hello. This is Satish Iyengar.  
18 I'm with the statistics department and the Department  
19 of Psychiatry at the University of Pittsburgh, and I  
20 also confirm that I have reviewed all the materials.

21 DR. BONNER: Next is Dr. Jain.

22 DR. JAIN: Hello. This is Dr. Felipe Jain.

1 I'm a psychiatrist at Massachusetts General Hospital  
2 and assistant professor at Harvard Medical School. I  
3 confirm that I have reviewed the materials.

4 DR. BONNER: Dr. Jeffrey?

5 DR. JEFFREY: Hi, everyone. My name is  
6 Jessica Jeffrey. I'm a child psychiatrist at UCLA, and  
7 I have reviewed all the prerecorded presentations.

8 DR. BONNER: Dr. Krishna?

9 DR. KRISHNA: Hi. This is Sonia Krishna. I'm  
10 affiliate faculty at Dell Medical School at the  
11 University of Texas, Austin. I have reviewed all the  
12 materials.

13 DR. BONNER: And our chairperson,  
14 Dr. Narendran?

15 DR. NARENDRAN: I'm Dr. Narendran. I'm a  
16 psychiatrist at UPMC. I'm also a professor in  
17 radiology and psychiatry at the University of  
18 Pittsburgh. I have reviewed all the materials.

19 DR. BONNER: Thank you, sir.

20 Dr. Thomas?

21 DR. THOMAS: Hello. I'm Patrick Thomas  
22 [inaudible - distortion].

1 DR. BONNER: Dr. Thomas, there are some audio  
2 issues with your introduction, so I'll come back to  
3 you.

4 Next is Kim Witczak.

5 DR. WITCZAK: Hi. Good morning. Kim Witczak  
6 from Minneapolis, consumer rep, founder of  
7 Woodymatters, and I have reviewed all the materials.

8 DR. BONNER: Dr. Baker?

9 DR. BAKER: Hi. This is Robert Baker at Eli  
10 Lilly and Company, where I'm responsible for our  
11 clinical trial designs and phase 1 programs. I'm also  
12 a psychiatrist. I'm the pharmaceutical industry  
13 representative and not voting, but I have reviewed the  
14 materials.

15 DR. BONNER: We're going to go back to  
16 Dr. Thomas.

17 Can you reintroduce yourself, sir?

18 DR. THOMAS: Hello. Can you hear me now?

19 DR. BONNER: Yes, I can.

20 DR. THOMAS: Okay, great. Hi. I'm  
21 Dr. Patrick Thomas, assistant professor at Baylor  
22 College of Medicine, and I've reviewed the material.

1 DR. BONNER: Next is Dr. Boudreau.

2 DR. BOUDREAU: Hi. Good morning. I'm Denise  
3 Boudreau, scientific investigator at Kaiser Permanente,  
4 Washington and also professor at the University of  
5 Washington, Department of Pharmacy and Epidemiology.  
6 And yes, I have reviewed all the materials from both  
7 FDA and the sponsor.

8 DR. BONNER: Thank you.

9 Dr. Calis, please introduce yourself.

10 DR. CALIS: Good morning. I'm Karim Calis.  
11 I'm director of clinical research and compliance at the  
12 NICHD in NIH, and I'm also chair of the intramural NIH  
13 IRB, and I have reviewed all of the materials.

14 DR. BONNER: Dr. Griffin?

15 DR. GRIFFIN: Hi. I'm Marie Griffin. I'm an  
16 internist and pharmacoepidemiologist and Professor of  
17 Health Policy, Emerita at Vanderbilt University, and I  
18 confirm that I reviewed all the material.

19 DR. BONNER: Next is Dr. Habel.

20 DR. HABEL: Hi. This is Laurel Habel. I'm an  
21 epidemiologist at the Division of Research at Kaiser  
22 Permanente Northern California, and I confirm that I've

1 reviewed all the materials.

2 DR. BONNER: Dr. Sonia Hernandez-Diaz?

3 DR. HERNANDEZ-DIAZ: Hi. This is Sonia  
4 Hernandez-Diaz, Professor of Pharmacoepidemiology at  
5 the Harvard Chan School of Public Health, and I have  
6 reviewed all the materials.

7 DR. BONNER: Next is Dr. Kulldorff.

8 DR. KULLDORFF: Hi. My name is Martin  
9 Kulldorff. I'm a biostatistician in the Harvard  
10 Medical School in the Division of Pharmacoepidemiology.  
11 I have reviewed all the presentations, and I'm a little  
12 bit older than that pictures indicates.

13 DR. BONNER: Dr. Meisel?

14 DR. MEISEL: Good morning. Steve Meisel. I  
15 am a director of medication safety for M Health  
16 Fairview integrated health system based in Minneapolis,  
17 Minnesota, and I confirm I reviewed the materials.

18 DR. BONNER: Dr. Nelson was next.

19 DR. NELSON: Good morning. Lewis Nelson,  
20 professor and chair, Department of Emergency Medicine  
21 at Rutgers New Jersey Medical School in Newark, New  
22 Jersey. I'm the chief of the Division of Medical

1 Toxicology and senior consultant to the New Jersey  
2 Poison Control Center in Newark, New Jersey. I have  
3 reviewed all of the materials.

4 DR. BONNER: Thank you, sir.

5 Dr. Mehta?

6 DR. MEHTA: Hi. Reema Mehta from Pfizer, head  
7 of risk management and safety surveillance research,  
8 and I am the industry rep, nonvoting, and I confirm I  
9 have read all the materials.

10 DR. BONNER: Next we'll have Dr. Green.

11 DR. GREEN: Good morning. I'm Traci Green.  
12 I'm an epidemiologist. I'm a professor at the Heller  
13 School for Social Policy and Management and the  
14 director of the Opioid Policy Research Collaborative at  
15 Brandeis University, and I confirm I have reviewed all  
16 the materials.

17 DR. BONNER: Thank you.

18 Dr. Marshall?

19 DR. MARSHALL: Good morning, everyone. This  
20 is Brandon Marshall. I'm an associate professor in  
21 epidemiology at the Brown University School of Public  
22 Health, and I confirm I have reviewed all of the

1 materials.

2 DR. BONNER: Thank you.

3 Dr. McCurdy?

4 DR. MCCURDY: Good morning. My name is Chris  
5 McCurdy. I'm at the University of Florida where I  
6 serve as director of the Translational Drug Development  
7 Core and also as Professor of Medicinal Chemistry and  
8 Pharmaceutics in the College of Pharmacy, and I confirm  
9 that I have reviewed all the materials for today's  
10 meeting.

11 DR. BONNER: Thank you, sir.

12 Dr. Posner, please introduce yourself and your  
13 affiliation.

14 DR. POSNER: Phil Posner. I'm the patient  
15 with ADD, and I have a courtesy appointment at the  
16 University of Florida, College of Medicine in the  
17 Department of Physiology and Genetics, and I confirm  
18 that I have read all of the materials.

19 DR. BONNER: Thank you.

20 Dr. Zibbell?

21 DR. ZIBBELL: Hey, everyone. I'm Jon Zibbell.  
22 I'm a senior public health scientist in the Behavioral

1 Health Research Division at RTI International in  
2 Atlanta, Georgia; also an adjunct appointment at Emory  
3 University, and I confirm that I've reviewed all the  
4 materials. Thanks.

5 DR. BONNER: Thank you.

6 Next, we will have our FDA participants.

7 Dr. Dunn?

8 (No response.)

9 DR. BONNER: Dr. Dunn, please unmute your  
10 phone.

11 DR. B. DUNN: Hello. This is Dr. Dunn.  
12 Sorry. I had to unmute things. This is Dr. Dunn. I  
13 direct the Office of Neuroscience at the FDA.

14 DR. BONNER: Thank you, sir.

15 Dr. Bastings?

16 DR. BASTINGS: Yes. Good morning. Eric  
17 Bastings, acting deputy director of the Office of  
18 Neuroscience, FDA.

19 DR. BONNER: Dr. Farchione?

20 DR. FARCHIONE: Hi. This is Tiffany  
21 Farchione. I'm the acting director of the Division of  
22 Psychiatry.

1 DR. BONNER: Dr. Fischer?

2 DR. FISCHER: Hi. This is Bernie Fischer.  
3 I'm the acting deputy for Division of Psychiatry.

4 DR. BONNER: Dr. Staffa, please introduce  
5 yourself.

6 DR. STAFFA: Good morning. This is Judy  
7 Staffa. I'm the associate director for Public Health  
8 Initiatives in the Office of Surveillance and  
9 Epidemiology at FDA.

10 DR. BONNER: Next will be Dr. Meyer.

11 DR. MEYER: Hi. I'm Tamra Meyer. I'm the  
12 team lead for Nonmedical Use Team Number 1 in the  
13 Division of Epidemiology at FDA.

14 DR. BONNER: Next is Dr. Chiapperino.

15 DR. CHIAPPERINO: Good morning. This is  
16 Dominic Chiapperino. I am the director of the  
17 controlled substance staff in the drug center. Thank  
18 you.

19 DR. BONNER: That concludes our introductions.  
20 I'll hand the meeting back to the chair, Dr. Narendran.

21 DR. NARENDRAN: Thank you, Dr. Bonner.

22 For topics such as those being discussed at

1 today's meeting, there are often a variety of opinions,  
2 some of which are quite strongly held. Our goal is  
3 that today's meeting will be a fair and open forum for  
4 discussion of these issues and that individuals can  
5 express their views without interruption. Thus, as a  
6 gentle reminder, individuals will be allowed to speak  
7 into the record only if recognized by the chairperson.  
8 We look forward to a productive meeting.

9 In the spirit of the Federal Advisory  
10 Committee Act and the Government in the Sunshine Act,  
11 we ask that advisory committee members take care that  
12 their conversations about the topic at hand take place  
13 in the open forum of the meeting.

14 We're aware that members of the media are  
15 anxious to speak with the FDA about these proceedings,  
16 however, FDA will refrain from discussing the details  
17 of this meeting with the media until its conclusion.  
18 Also, the committee is reminded to please refrain from  
19 discussing the meeting topic during breaks or lunch.  
20 Thank you.

21 I will now hand it over to Dr. Bonner to read  
22 the Conflict of Interest Statement for the meeting.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Conflict of Interest Statement**

DR. BONNER: Thank you, sir.

The Food and Drug Administration is convening today's joint meeting of the Psychopharmacologic Drug Advisory Committee and the Drug Safety and Risk Management Advisory Committee under the authority of the Federal Advisory Committee Act, FACA, of 1972.

With the exception of the industry representative, all members and temporary voting members of the committees are special government employees or regular federal employees from other agencies and are subject to federal conflict of interest laws and regulations.

The following information on the status of the committees' compliance with federal ethics and conflict of interest laws, covered by but not limited to those found at 18 U.S.C. Section 208, is being provided to participants in today's meeting and to the public.

FDA has determined that members and temporary voting members of the committees are in compliance with federal ethics and conflict of interest laws. Under 18

1 U.S.C. Section 208, Congress has authorized FDA to  
2 grant waivers to special government employees and  
3 regular federal employees who have potential financial  
4 conflicts when it is determined that the agency's need  
5 for a special government employee's services outweighs  
6 his or her potential financial conflict of interest or  
7 when the interest of a regular federal employee is not  
8 so substantial as to be deemed likely to affect the  
9 integrity of the services which the government may  
10 expect from the employee.

11 Related to the discussion of today's meeting,  
12 members and temporary voting members of the committees  
13 have been screened for potential financial conflicts of  
14 interests of their own as well as those imputed to  
15 them, including those of their spouses or minor  
16 children and, for purposes of 18 U.S.C. Section 208,  
17 their employers. These interests may include  
18 investments; consulting; expert witness testimony;  
19 contracts, grants, CRADAs; teaching, speaking, writing;  
20 patents and royalties; and primary employment.

21 Today's agenda involves discussion of new drug  
22 application 211179, for amphetamine sulfate

1 immediate-release oral capsules submitted by Arbor  
2 Pharmaceuticals, LLC, for the proposed indication of  
3 treatment of attention deficit hyperactivity disorder.  
4 The product has been formulated with properties  
5 intended to deter non-oral abuse, and the applicant has  
6 submitted data to support these abuse-deterrent  
7 properties for this product.

8 The committees will be asked to discuss the  
9 overall risk-benefit profile of the product, including  
10 the potential public health impact and whether the  
11 applicant has demonstrated abuse-deterrent properties  
12 for their product that would support labeling.

13 This is a particular matters meeting during  
14 which specific matters related to Arbor  
15 Pharmaceuticals' NDA will be discussed. Based on the  
16 agenda for today's meeting and all financial interests  
17 reported by the committee members and temporary voting  
18 members, no conflict of interest waivers have been  
19 issued in connection with this meeting. To ensure  
20 transparency, we encourage all standing committee  
21 members and temporary voting members to disclose any  
22 public statements that they have made concerning the

1 product at issue.

2 With respect to FDA's invited industry  
3 representatives, we would like to disclose that  
4 Drs. Robert Baker and Reema Mehta are participating in  
5 this meeting as nonvoting industry representatives,  
6 acting on behalf of regulated industry. Dr. Baker's  
7 and Dr. Mehta's role at this meeting is to represent  
8 industry in general and not any particular company.  
9 Dr. Baker is employed by Eli Lilly and Dr. Mehta is  
10 employed by Pfizer.

11 We would like to remind members and temporary  
12 voting members that if the discussions involve any  
13 other products or firms not already on the agenda for  
14 which an FDA participant has a personal or imputed  
15 financial interest, the participants need to exclude  
16 themselves from such involvement and their exclusion  
17 will be noted for the record. FDA encourages all other  
18 participants to advise the committees of any financial  
19 relationships that they may have with the firm at  
20 issue.

21 Thank you. I will now turn the meeting back  
22 over to our chair.

1 DR. NARENDRAN: Thank you, Dr. Bonner.

2 We will now proceed with the FDA's opening  
3 remarks from Dr. Tiffany Farchione.

4 Dr. Farchione?

5 DR. FARCHIONE: Great. Thank you.

6 I'm just looking for my slides.

7 DR. BONNER: Please stand by just a moment.

8 DR. FARCHIONE: Thank you.

9 (Pause.)

10 **FDA Opening Remarks - Tiffany Farchione**

11 DR. FARCHIONE: Good morning, and welcome to  
12 this joint meeting of the Psychopharmacologic Drugs and  
13 the Drug Safety and Risk Management Advisory  
14 Committees. My name is Tiffany Farchione, and I'm the  
15 acting director of the Division of Psychiatry here at  
16 FDA.

17 Before we begin, I would like to acknowledge  
18 these unusual circumstances. Today's meeting is  
19 obviously being held virtually given the ongoing public  
20 health emergency. Both the agency and the applicant  
21 prerecorded their presentations, and the committee  
22 members are expected to have viewed these presentations

1 in advance of today's meeting, as they confirmed during  
2 the introduction. However, before moving on to the  
3 clarifying questions, public comment, and discussion, I  
4 will begin here with a brief summary of the agency  
5 presentation, and the applicant will follow with a  
6 brief summary of their materials.

7 The purpose of this meeting is to discuss a  
8 new drug application submitted by Arbor Pharmaceuticals  
9 for AR19, an immediate-release amphetamine sulfate  
10 product formulated with properties intended to deter  
11 non-oral abuse.

12 The committees will be asked to discuss the  
13 overall risk-benefit profile of the product, including  
14 the potential public health impact and whether the  
15 applicant has demonstrated abuse-deterrent properties  
16 for their products efficiently to support inclusion of  
17 language describing those properties in the labeling.  
18 If language describing these properties is included in  
19 labeling, this product would be the first stimulant  
20 with such abuse-deterrent labeling.

21 AR19 was developed under the 505(b)(2)  
22 pathway. This means that the applicant is relying, in

1 part, on the agency's findings of safety and  
2 effectiveness for a previously approved amphetamine  
3 product to support this application. In this case, the  
4 approved product is indicated for the treatment of ADHD  
5 in pediatric patients with a maximum recommended total  
6 daily dose of 40 milligrams. It's administered once in  
7 the morning, followed by a second dose 4 to 6 hours  
8 later; in other words, 20 milligrams twice per day.

9 The applicant has conducted a relative  
10 bioavailability study comparing AR19 to the previously  
11 approved drug, as well as the randomized, fixed-dose,  
12 double-blind, placebo-controlled safety and efficacy  
13 study in adults with ADHD.

14 Based on these studies, the efficacy of AR19  
15 for the treatment of ADHD is not in question and will  
16 not be a focus of this meeting. Rather, this meeting  
17 will focus on the product's proposed abuse-deterrent  
18 properties; whether the benefit-risk assessment for the  
19 drug supports approval of this new formulation; and the  
20 role of abuse-deterrent stimulants more broadly in  
21 addressing the public health problem of stimulant  
22 nonmedical use.

1           Before we really begin, I'd like to define  
2           some of the terms we will be using during today's  
3           meeting. In general, FDA has defined "misuse" as the  
4           intentional use for therapeutic purposes of a drug in a  
5           manner other than as prescribed or by an individual for  
6           whom it was not prescribed.

7           We have defined "abuse" as the intentional  
8           non-therapeutic use of a drug, even one, for its  
9           desirable psychological or physiological effects.  
10          However, it can be difficult to differentiate between  
11          misuse and abuse in epidemiologic data, therefore when  
12          discussing epidemiologic data, the term "nonmedical  
13          use" refers to both misuse and abuse.

14          We recognize that language can perpetuate  
15          stigma and negative bias towards individuals with  
16          substance-use disorders and create barriers to  
17          effective treatment. FDA is committed to reducing  
18          stigma, expanding therapeutic options, and ensuring  
19          access to evidence-based treatments for individuals  
20          with substance-use disorders.

21          We also recognize that the term "abuse-  
22          deterrent formulation" may be misunderstood to mean

1 abuse or addiction-proof formulation. Although we will  
2 use that term today, the agency is currently engaged in  
3 rigorous mixed-method, multiphase research on  
4 healthcare providers' perspectives on issues related to  
5 abuse deterrence, including the terminology for  
6 describing these products.

7           Returning to the application at hand, because  
8 there's no established pathway for developing abuse-  
9 deterrent stimulants, the applicant has based their  
10 development program on agency guidance for developing  
11 abuse-deterrent opioids.

12           In the absence of class-specific guidance,  
13 this is not an unreasonable approach. The scientific  
14 principles governing assessment of a product's ability  
15 to deter abuse are the same. However, because the  
16 patterns of misuse and abuse, morbidity, and mortality  
17 associated with prescription stimulants are different  
18 from those associated with prescription opioids, we  
19 have remained agnostic on the applicability of opioid  
20 abuse-deterrent guidance to the development of abuse-  
21 deterrent stimulants.

22           AR19 is an immediate-release product with

1 proposed strength originally ranging from 2.5 to  
2 40 milligrams, although we acknowledge that the AC  
3 materials note the applicant is now seeking the maximum  
4 strength of 30 milligrams.

5 Although the most frequent route of abuse for  
6 prescription stimulants is oral, this product was not  
7 designed to deter abuse by the oral route. It is only  
8 intended to deter abuse via the intranasal and  
9 intravenous route. The committees will be asked to  
10 comment on the data presented by the applicant to  
11 support these abuse-deterrent claims, as well as the  
12 agency's perspective on these data.

13 Although there are no formulation-specific  
14 safety concerns when this product is administered via  
15 the intended oral route, abuse-deterrent formulations  
16 are also evaluated for potential risk secondary to  
17 manipulation for use via unintended routes of  
18 administration.

19 The prerecorded presentations included  
20 information about two of the excipients in this  
21 formulation that may present safety concerns when the  
22 product is manipulated and also about the potential

1 public health impact of abuse-deterrent stimulants.

2 Dr. Ponta's presentation outlines the results  
3 of the laboratory manipulation and extraction studies,  
4 otherwise known as category 1 studies. Physical  
5 manipulation studies aim to manipulate capsule contents  
6 to reduce particle size to produce a powder amenable to  
7 insufflation. Extractability and syringeability  
8 studies are performed to determine whether a  
9 syringeable material can be produced.

10 The physical manipulation studies show that  
11 roughly 82 to 98 percent of non-abuse-deterrent  
12 amphetamine can be reduced to an insufflatable particle  
13 size without pretreatment. By contrast, 75.6 percent  
14 of the contents of the 40-milligram AR19 capsule can be  
15 reduced to an insufflatable particle size with  
16 pretreatment.

17 I would note that there was a typo in the FDA  
18 presentation related to the amount that could be  
19 reduced to less than 1000 microns, but without  
20 pretreatment, the percentage of AR19 particles that  
21 could be reduced to less than 1000 microns is actually  
22 98.8 percent, not 88.8 percent as described.

1           With regard to extractability and  
2           syringeability, up to 90 percent of amphetamine can be  
3           extracted from non-ADF formulations without  
4           pretreatment. Based on the applicant's studies, only  
5           about 15 percent of the amphetamine can be extracted  
6           from an AR19 capsule without pretreatment and about  
7           50 percent can be extracted with pretreatment.

8           They also showed that extraction from multiple  
9           capsules was largely not feasible. However, since  
10          recording the prerecorded session, we received the  
11          results of extraction studies conducted by our own lab  
12          and are no longer sure we agree with these conclusions.  
13          It appears that it may be possible to extract up to  
14          40 percent of amphetamine without pretreatment, and  
15          under certain conditions, it may be possible to extract  
16          more than 50 percent of the amphetamine from multiple  
17          capsules.

18          Dr. Bansil's presentation outlined the  
19          pharmacokinetic and human abuse potential studies, also  
20          known as category 2 and 3 studies conducted as part of  
21          the development program. Starting with studies  
22          examining intravenous abuse potential, we note that

1 human abuse potential studies to evaluate the abuse-  
2 deterrent effects of manipulated product administered  
3 by the IV route could not be conducted for safety  
4 reasons; for example, concerns related to excipient in  
5 this product. Therefore, the evaluation of  
6 abuse-deterrent effect by the IV route is supported by  
7 in vitro syringeability studies.

8 This evaluation required an understanding of  
9 the dose-response curve for reinforcing effects to  
10 determine the minimum reinforcing dose. Based on data  
11 from the literature, our controlled substances staff  
12 determined that a reasonable minimum reinforcing dose  
13 would be 10 milligrams of amphetamine administered in a  
14 small volume over a 1-minute period. In vitro  
15 manipulation studies demonstrated that it is possible  
16 to obtain a solution for intravenous use that contains  
17 10 milligrams or more of amphetamine.

18 The applicant performed an intranasal human  
19 abuse potential study, and I'm going to spend a bit of  
20 time here because the methods and terminology are not  
21 as familiar as our usual clinical trial. It's also  
22 important to explain why you heard different

1 interpretations of the overall conclusions in the  
2 agency and the applicant presentations.

3 To summarize, the primary analysis looking at  
4 drug liking in the prespecified completer population  
5 failed the validation test. Failing validation means  
6 that the responses of the subject population taken as a  
7 whole do not provide reliable results because the  
8 subject did not respond as one would expect to the  
9 positive control relative to placebo. In other words,  
10 you'd expect subjects to report liking in the positive  
11 control and neutrality for placebo, and you expect the  
12 mean difference in the maximum drug liking, between the  
13 positive control and placebo, to reach a certain  
14 threshold; in this case 15 points.

15 Looking at the 37 subject completer  
16 population, their study failed the validation test. In  
17 their slides and briefing materials, the applicant  
18 presents only results that include all 37 subjects in  
19 their analyses, but we already know that analysis of  
20 that population did not result in a validated study.  
21 Once you determine that the subjects' responses are not  
22 reliable, it is not appropriate to conduct further

1 analyses on this population. This is not a matter of  
2 removing outliers for post hoc analyses; this is a  
3 matter of the appropriateness of conducting further  
4 analyses on an unreliable population.

5 The only post hoc results that should be  
6 considered reliable would come from a population that  
7 does pass the validation test. Prespecifying criteria  
8 to remove subjects who are responding unreliably was an  
9 option but was not done in this case. However,  
10 removing subjects with unreliable responses from the  
11 completer population post hoc at least allows a  
12 reasonable approach to conducting further post hoc  
13 analyses of primary and secondary endpoints in the  
14 modified completer population.

15 To consider a product's ability to deter abuse  
16 based on these endpoints, Arbor presented data in their  
17 application with a single subject removed. We don't  
18 agree that removal of that single subject resulted in a  
19 validated study, and they did not present those  
20 analyses in their background materials, so I won't  
21 discuss them further.

22 FDA conducted analyses with four subjects with

1 unreliable responses excluded, and this modified  
2 completer population did pass the validation test.  
3 However, with this more reliable subject population,  
4 the study still was not able to detect a nominally  
5 significant difference by the prespecified margin  
6 between manipulated AR19 and amphetamine. So an abuse-  
7 deterrent effect for AR19 for the intranasal route was  
8 not established.

9 That was a lot of information packed into  
10 those last couple of slides, so let me quickly  
11 summarize the take-home point. AR19 is not intended to  
12 and will not deter abuse by the oral route. Even  
13 though you can extract less amphetamine from AR19 than  
14 from non-ADF formulations of amphetamine, in vitro  
15 manipulation studies demonstrate that it is feasible to  
16 obtain a solution for injection containing a  
17 reinforcing dose of amphetamine.

18 The intranasal HAP study does not provide  
19 convincing evidence that the formulation employed for  
20 AR19 has significant abuse-deterrent effects as  
21 compared to amphetamine sulfate when administered by  
22 the intranasal route.

1           Moving on to the nonclinical findings, as  
2           Lieutenant Commander Matthew noted, there are no  
3           formulation-specific safety concerns when this product  
4           is used as intended, however, abuse-deterrent  
5           formulations are also evaluated for potential risk  
6           secondary to manipulation for use via an unintended  
7           route. Her presentation included information about two  
8           of the excipients in this formulation that may present  
9           safety concerns when the product is manipulated, high  
10          molecular weight polyethylene oxide, or PEO, and talc.

11           If AR19 is manipulated in a manner that  
12          results in some syringeable high molecular weight PEO,  
13          we cannot rule out the possibility of thrombotic  
14          microangiopathy. On the other hand, talc exposure via  
15          injecting or snorting manipulated AR19 likely poses a  
16          similar risk to other non-ADF formulations that contain  
17          talc.

18           I also want to note here that the applicant  
19          did request a correction to the FDA presentation  
20          regarding the amount of unknown material in the  
21          Condition 8 extract. The FDA background document and  
22          presentation noted that approximately 15 percent of the

1 material is of unknown chemical composition.

2 We would like to note that based on our  
3 assessment of the data submitted by the applicant  
4 characterizing the chemical identity of the material in  
5 the Condition 8 extract sample, approximately  
6 15 percent of the mass of the extracted material is not  
7 accounted for as either PEO, PEG, TDA, talc starch, or  
8 amphetamine. However, we acknowledge that of that 15  
9 percent, approximately 3.4 percent of that unknown mass  
10 has been characterized as amphetamine-related  
11 impurities, although the exact chemical identity of  
12 these amphetamine-related impurities was not reported.

13 Dr. Shearer's presentation outlined the  
14 potential public health impact of AR19 and of abuse-  
15 deterrent stimulants in general. For opioids, the  
16 agency has explicitly stated that we will consider the  
17 broader public health risks of the drug related to  
18 misuse, abuse, opioid-use disorder, accidental  
19 exposure, and overdose in both patients and others, as  
20 well as any properties of the drug that may mitigate  
21 such risk. Considering public health in our  
22 benefit-risk assessment will also be useful for

1 evaluating the potential impact of ADF stimulants.

2 We recognize that an abuse-deterrent  
3 formulation of a single product will likely have little  
4 impact on the overall problem of prescription stimulant  
5 nonmedical use, but it is also important to consider  
6 the broader question of whether effective abuse-  
7 deterrent formulations of prescription stimulant  
8 products in general have the potential for public  
9 health benefit.

10 To their credit, the applicant submitted  
11 multiple reports containing results from original  
12 studies and analyses of other available data intended  
13 to outline the scope and pattern of nonmedical  
14 stimulant use in the United States. Because this is  
15 the first stimulant application seeking abuse-deterrent  
16 labeling, the agency's prerecorded presentations  
17 included a lengthy discussion of the epidemiologic data  
18 submitted by the applicant, as well as data generated  
19 by FDA related to nonmedical use of stimulants and the  
20 potential public health impact of abuse-deterrent  
21 formulation.

22 Rather than present a summary of Dr. Shearer's

1 comprehensive presentation, I've listed here a few of  
2 the unanswered questions that may serve to spur some  
3 discussion this afternoon, which brings me to today's  
4 agenda.

5 You have already had an opportunity to view  
6 presentations from the applicant and the agency. The  
7 applicant will also present a brief summary today  
8 followed by an opportunity for the committee members to  
9 ask clarifying questions. We will then hear public  
10 comments before moving on to the questions for the  
11 committee.

12 Today, you will be asked to address these  
13 questions and points of discussion.

14 1) Considering the patterns of prescription  
15 stimulant nonmedical use in the United States, please  
16 discuss the potential public health impact of  
17 prescription stimulants formulated to be abuse-  
18 deterrent.

19 2) Based on the information provided,  
20 including the intranasal study comparing this product  
21 to amphetamine sulfate, has the applicant provided  
22 adequate evidence that the formulation of AR19 would

1       deter intranasal use?

2               3) Based on the information provided,  
3 including the syringeability study, has the applicant  
4 provided adequate evidence that the formulation of AR19  
5 would deter intravenous use?

6               4) Based on the information provided, has the  
7 applicant adequately characterized the safety of AR19?

8               5) Discuss whether the benefits of AR19  
9 outweigh the risks for the proposed indication.

10              6) What, if any, additional data are needed  
11 to address outstanding issues?

12              Finally, on a practical note, I would like to  
13 alert you to the different processes FDA will be using  
14 to internally confer when needed, particularly when  
15 addressing questions from the committee.

16              Our advisory committee staff has done a  
17 wonderful job of harnessing technology to allow us to  
18 still have public meetings like this, and I would like  
19 to acknowledge their support throughout the process of  
20 planning and executing this virtual meeting. However,  
21 we have a large group of multidisciplinary scientists  
22 and leadership across CDER involved in this meeting,

1 and there may be slight delays in our responses as we  
2 confer virtually to make sure we identify the optimal  
3 respondent to your question.

4 I thank you in advance for your patience as we  
5 all learn together how to make this work. And with  
6 that, I will turn it over to the applicant. Thank you.

7 DR. SCULLIN: Thank you. I'll wait for slides  
8 here.

9 DR. BONNER: We will now turn the meeting over  
10 to the chair, Dr. Narendran.

11 DR. NARENDRAN: Thank you, Dr. Farchione.

12 I just want to read this statement.

13 Both the Food and Drug Administration and the  
14 public believe in a transparent process for information  
15 gathering and decision making. To ensure such  
16 transparency at the advisory committee meeting, the FDA  
17 believes that it is important to understand the context  
18 of an individual's presentation.

19 For this reason, FDA encourages all  
20 participants, including Arbor Pharmaceuticals' non-  
21 employee presenters, to advise the committee of any  
22 financial relationships that they may have with the

1 applicant, such as consulting fees, travel expenses,  
2 honoraria, and interest in the applicant, including  
3 equity interests and those based upon the outcome of  
4 the meeting.

5 Likewise, FDA encourages you at the beginning  
6 of your presentation to advise the committee if you do  
7 not have any such financial relationships. If you  
8 choose not to address this issue of financial  
9 relationships at the beginning of your presentation, it  
10 will not preclude you from speaking.

11 We will now proceed with Arbor  
12 Pharmaceuticals' summary presentation. Thank you for  
13 the summary presentation.

14 **Applicant Presentation - Evan Scullin**

15 DR. SCULLIN: Thank you and good morning. My  
16 name is Evan Scullin. I'm the vice president of  
17 medical affairs at Arbor Pharmaceuticals. As you've  
18 seen, the FDA posted an errata to correct several  
19 inaccuracies related to Arbor's clinical and  
20 nonclinical data. When the FDA issued its errata, they  
21 added the statement related to impurities in our  
22 nonclinical extract, which was also incorrect.

1           In the FDA's presentation, we had to suggest  
2 additional corrections, however, the FDA informed us no  
3 additional corrections would be made. These  
4 inaccuracies and misrepresentations are regrettable in  
5 the light of the complex issues you are being asked to  
6 consider today. We will do our best, in this  
7 presentation and in our Q&A period, to present the data  
8 accurately and transparently.

9           AR19 is the first immediate-release ADHD  
10 prescription stimulant formulated with physical and  
11 chemical barriers designed to resist manipulations  
12 required for snorting, smoking, and injecting. AR19 is  
13 a pellets-in-capsule form of amphetamine sulfate and  
14 could be used for any patient for whom an  
15 immediate-release amphetamine medication is indicated.

16           We agree with the FDA that AR19 meets the  
17 standard for approval in pediatric and adult patients  
18 with ADHD. We have proposed the term "manipulation  
19 resistant" to describe AR19's formulation rather than  
20 "abuse deterrent" since it may stigmatize patients and  
21 lead to the false perception that the medication is  
22 abuse proof.

1           We think manipulation resistant conveys what  
2 this medication does. It imposes barriers to  
3 conversion for non-oral use. We've surveyed more than  
4 700 physicians, and the vast majority recognize the  
5 need for an option like AR19 that would provide  
6 protection for at-risk patients.

7           We originally formulated AR19 in 7 dose  
8 strengths to provide clinicians flexible dosing  
9 options. Based on FDA's concern regarding the highest  
10 dose strength, Arbor proposes to eliminate the  
11 40-milligram dose. This would leave 30 milligrams as  
12 the highest strength.

13           Finally, Arbor is committed to ensuring  
14 patient access to AR19. One of the primary concerns  
15 expressed in public comments at the Federal Register is  
16 that sponsors price these medications so high that  
17 access is very limited. We intend to price AR19  
18 consistent with currently marketed prescription  
19 stimulants.

20           Thank you. I'll now turn the presentation  
21 over to Dr. Faraone.

22           (No response.)

1 DR. SCULLIN: Dr. Faraone, I believe you're on  
2 mute.

3 **Applicant Presentation - Stephen Faraone**

4 DR. FARAONE: Thank you. I am Stephen  
5 Faraone, vice chair of research and professor in the  
6 Department of Psychiatry at SUNY Upstate Medical  
7 University. I am a paid consultant for Arbor  
8 Pharmaceuticals.

9 I'll provide my views on question 1 regarding  
10 patterns of prescription stimulant nonmedical use and  
11 the potential public health impact of prescription  
12 stimulants with barriers to non-oral use. The FDA's  
13 materials have focused extensively on comparisons  
14 between opioids and stimulants. An important topic for  
15 the focus today will be the harms of non-oral use of  
16 prescription stimulants.

17 Epidemiologic data have improved our  
18 understanding of both the most vulnerable target  
19 populations and the target medications most attractive  
20 or abused. Older adolescents and young adults with  
21 ADHD have the highest prevalence of misuse and abuse.  
22 In fact, the prevalence of non-oral use is highest

1 among college students with ADHD. Forty-five percent  
2 of those prescribed a stimulant had snorted it. Also,  
3 immediate-release medications are more likely to be  
4 misused or abused than extended-release products.  
5 Amphetamine is more frequently misused or abused than  
6 methylphenidate.

7 Among those who have ever used a prescription  
8 stimulant, non-oral use is surprisingly high, about 1  
9 in 7 adolescents, 1 in 5 college students, and 1 in  
10 6 adults. Across all age groups, snorting was the most  
11 common route by far, followed by smoking, and  
12 injecting. This is alarming because the risks for  
13 major medical effects and death are substantially  
14 higher for non-oral than oral use. The relative risk  
15 for life-threatening events was nearly 3 times greater  
16 for snorting and more than 7 times greater for  
17 injecting. The relative risk for death were even  
18 higher.

19 As the FDA stated, the absolute rate of  
20 adverse harms is higher with oral nonmedical use, but  
21 since harm reduction is fundamental to public health,  
22 it would be irresponsible to ignore the known risks of

1 non-oral use even though it's less common. Snorting,  
2 smoking, and injecting CNS active drugs leads to faster  
3 or greater effects. The drug enters the brain more  
4 quickly, which accelerates and intensifies its effects.  
5 As a result, non-oral routes put users at a higher risk  
6 for compulsive use and addiction.

7 This pathway to addiction has been so well  
8 documented that it is described in professional  
9 guidelines and psychopharmacology textbooks. To  
10 summarize, the target population of older adolescents  
11 and college students is not orally using prescription  
12 stimulants at alarming rates, especially  
13 immediate-release amphetamine. Nearly half of college  
14 students with ADHD report snorting their medicine.  
15 Younger individuals dismiss these risks because they  
16 are using a medicine prescribed by a doctor, but the  
17 risk of serious medical harm is much greater with  
18 snorting or injecting.

19 Non-oral use also predisposes individuals to  
20 compulsive use and addiction. Today, all IR stimulants  
21 are easy to manipulate and use by these more dangerous  
22 routes. Prevention and harm reduction should be the

1 goals. Manipulation-resistant formulations will  
2 provide us that opportunity.

3 Thank you. I'll now turn over the  
4 presentation to Dr. Setnik.

5 **Applicant Presentation - Beatrice Setnik**

6 DR. SETNIK: Thank you. I'm Beatrice Setnik,  
7 the chief scientific officer at Altasciences. I am a  
8 paid consultant for Arbor Pharmaceuticals. I'll be  
9 discussing the evidence showing that AR19 can be  
10 expected to reduce nasal use. All immediate-release  
11 prescription stimulants today offer no barriers to  
12 snorting. AR19 imparts meaningful barriers to both  
13 manipulation and abuse potential. I'll start by  
14 describing barriers to manipulation.

15 Scientists at DRUGSCAN performed a large  
16 battery of tests to evaluate possible methods a person  
17 might use to prepare AR19 for non-oral use. They  
18 selected 7 tools for extensive evaluation. This chart  
19 will show the percentage of particles reduced below the  
20 threshold that FDA considers amenable for snorting.

21 All tools reduced Evekeo to a snortable form  
22 in less than 1 minute. For AR19, most tools were

1 completely ineffective. For Tools 4 and 6, most  
2 material remained larger particles. Pretreatment did  
3 not have a significant impact on particle size  
4 reduction. Therefore, DRUGSSCAN scientists had to  
5 modify a tool to get the majority of AR19 pellets into  
6 small particles. After several weeks of  
7 experimentation, they identified an optimized  
8 20-minute, multi-step procedure that required modifying  
9 Tool 7 and applying select accessories.

10 This is a complicated method that is unlikely  
11 to be performed in the real world. Furthermore, even  
12 with all the time and effort required, AR19 could not  
13 be reduced to a fine powder. In contrast, Evekeo could  
14 be crushed into a fine powder in just 30 seconds.

15 Next, I will review the intranasal human abuse  
16 potential study. HAP studies only evaluate the second  
17 barrier because trained pharmacy staff prepare the  
18 drugs for subjects using the optimized method. HAP  
19 studies are evaluated based on the pattern of findings  
20 across all of the measures.

21 Prior to initiating the study, the protocol  
22 and statistical analysis plan were submitted to the FDA

1 for review, and we incorporated all the  
2 recommendations. Consistent with good scientific  
3 practice, I'll be showing these prespecified analyses,  
4 which include all completers, accounting for the  
5 totality of the data. The FDA has chosen to present  
6 post hoc exploratory analyses, which excludes several  
7 subjects.

8 Let's start with the pharmacokinetics.  
9 Intranasal API was associated with a rapid increase in  
10 plasma concentration. In contrast, intranasal AR19 led  
11 to a more gradual rise that did not reach the levels  
12 associated with API. These results confirmed that the  
13 inability to reduce AR19 to a fine powder reduces  
14 intranasal bioavailability.

15 This slide will show the results for the  
16 primary endpoint of drug liking Emax, the maximum  
17 liking score observed during the entire study. 100  
18 represents strong liking, 50 is neutral, and 0  
19 represents strong disliking. Placebo was close to  
20 neutral. Amphetamine had a maximum score of 78. AR19  
21 was 9 points lower than amphetamine. The p-value with  
22 a superiority margin of 10 percent narrowly missed the

1 threshold for significance of 0.025 with a p-value of  
2 0.026.

3 The rationale for snorting a stimulant is to  
4 achieve a quick effect. In the first 15 minutes, AR19  
5 was indistinguishable from placebo. At most time  
6 points, the drug liking for AR19 was about half that of  
7 API. The totality of the data on the prespecified  
8 analyses of the secondary endpoints show a very  
9 consistent pattern. All endpoints showed that AR19 has  
10 a lower abuse potential than API. This includes take  
11 drug again, which is probably the most important  
12 endpoint for a drug that is intended to prevent  
13 repeated use.

14 To summarize, AR19 is difficult to manipulate  
15 and less rewarding to snort. AR19 could not be  
16 prepared for snorting with common household tools.  
17 Even with a modified tool, specific equipment, and  
18 considerable time and effort, AR19 could not be reduced  
19 to a fine powder. Based on this barrier alone, it is  
20 unlikely that a typical individual would be able to  
21 prepare AR19 for snorting. Even when optimally  
22 manipulated, subjects experienced lower drug liking and

1 high over time and expressed a lower willingness to  
2 take AR19 again. Taken together, these multiple  
3 barriers can be expected to reduce snorting with AR19.

4 Thank you. I'll now turn the presentation to  
5 Dr. Kinzler.

6 **Applicant Presentation - Eric Kinzler**

7 DR. KINZLER: Thank you. My name is Eric  
8 Kinzler. I'm an independent consultant and the study  
9 director for in vitro testing at DRUGSCAN. I'll now  
10 review the data demonstrating that AR19 can be expected  
11 to impede intravenous use.

12 Intravenous users are looking to inject a dose  
13 that will achieve a desired effect. FDA referenced an  
14 article that stated 10 milligrams is a minimum  
15 reinforcing amphetamine dose, meaning the lowest dose  
16 that could be differentiated from placebo. But the  
17 reason people inject drugs is to achieve an immediate  
18 and profound effect. They're not looking to just feel  
19 a difference from nothing at all.

20 Therefore, 10 milligrams is not a relevant  
21 dose for intravenous drug use in the real world.  
22 Rather, the literature and postings on drug abuse

1 websites show that IV users initiate with doses of 20  
2 to 40 milligrams, and when they become experienced,  
3 their doses may escalate up to 100 to 300 milligrams  
4 per injection with multiple injections per day.

5 A product's ability to reduce the incentive  
6 for injection depends on two factors. The first is the  
7 input, which is the amount of time, effort, and  
8 materials required to prepare a product for injection.  
9 The second is the output, which is the IV dose they can  
10 achieve from those conditions. We tested real-world  
11 techniques of IV users, as well as advanced laboratory  
12 techniques.

13 To summarize our findings, we found that it  
14 was not feasible to prepare AR19 for injection using  
15 standard methods. From the hundreds of conditions  
16 evaluated, most yielded trace amounts of amphetamine or  
17 none at all.

18 We found one method from AR19 40 milligram  
19 that provided a maximum recovery of 20 milligrams or  
20 50 percent. This advanced laboratory procedure took an  
21 hour and required manipulation with modified Tool 7,  
22 pretreatment, vigorously agitating and extracting at

1 near boiling temperatures for an extended period of  
2 time, and syringing with a relatively large needle  
3 through a laboratory filter. We also found that  
4 extracting multiple AR19 capsules for injection is not  
5 feasible.

6 The sponsor has decided not to market the  
7 40-milligram dose, which would reduce the maximum  
8 recovery possible from AR19. Given what we know about  
9 the doses sought for injection, we can conclude that it  
10 is not feasible to produce a highly rewarding dose for  
11 injection with AR19.

12 Thank you. I'll now turn the presentation  
13 over to Dr. Dillberger.

14 **Applicant Presentation - John Dillberger**

15 DR. DILLBERGER: Thank you. I'm John  
16 Dillberger. I'm a pathologist and toxicologist with  
17 more than 30 years of experience in evaluating the  
18 nonclinical safety of new drug products, and I'm a paid  
19 consultant to Arbor. I'll review the information  
20 characterizing the safety of AR19.

21 As Dr. Farchione noted in her summary  
22 presentation, there are no safety concerns with AR19 19

1 when it's taken as intended by the oral route. All its  
2 excipients are included in other FDA-approved  
3 medications. The FDA has highlighted two specific  
4 excipients of concern for unintended routes of  
5 administration, high molecular weight PEO and talc.

6 Both excipients are contained in many of the  
7 most commonly prescribed prescription stimulants. For  
8 example, PEO 7 million is a primary excipient in  
9 Concerta. While we know Concerta's injected, there  
10 have been no reports of PEO-related toxicities despite  
11 more than 85 million prescriptions dispensed. Also,  
12 like AR19, both Ritalin and Adderall XR contain talc.  
13 The literature suggests AR19 would have to be snorted  
14 or injected thousands of times to cause talc toxicity.  
15 Therefore, PEO and talc in AR19 do not pose a unique  
16 risk.

17 To summarize our findings, there was no  
18 evidence of meaningful in vitro hemolysis with any AR19  
19 extract. While it's impossible to evaluate every  
20 nonmedical use scenario, we designed our in vivo  
21 studies to evaluate the extract with the highest  
22 amphetamine content because it poses the greatest risk

1 for repeat use.

2 Our pivotal study tested this extract in an  
3 exaggerated scenario that assumed a person would take  
4 the one hour of time and effort with laboratory  
5 equipment to manipulate and extract an AR19  
6 40-milligram capsule, do this 3 times per day, and  
7 repeat that lengthy and complicated procedure every day  
8 for a week. Even in this exaggerated scenario, AR19  
9 was well tolerated. Therefore, the safety of AR19 has  
10 been well characterized for both oral and non-oral  
11 routes of administration.

12 Thank you. I'll now turn the presentation  
13 over to Dr. Rostain.

14 **Applicant Presentation - Anthony Rostain**

15 DR. ROSTAIN: Thank you. My name is Anthony  
16 Rostain, and I'm the chair of psychiatry and behavioral  
17 health at Cooper University Healthcare, and I'm a paid  
18 consultant for Arbor Pharmaceuticals. I'm pleased to  
19 discuss the benefit-risk profile of AR19.

20 Let's begin with an understanding of what AR19  
21 can be expected to do and also what it can't. It can't  
22 prevent oral misuse or abuse, and it is not abuse-proof

1 by any route. But it can make manipulation difficult,  
2 reduce the positive reinforcement of non-oral routes,  
3 and reduce their harmful medical outcomes. Taken  
4 together, AR19 would be a harm reduction strategy that  
5 makes non-oral use more difficult and less rewarding.

6 As detailed in my recorded presentation, this  
7 is exactly what AR19 does for all non-oral routes of  
8 administration. The physical and chemical barriers  
9 makes snorting, smoking, and injecting more difficult  
10 and less rewarding. Perhaps the most important public  
11 health concern is that a manipulation-resistant  
12 formulation could lead individuals to seek illicit  
13 stimulants.

14 Researchers from the National Institute on  
15 Drug Abuse have stated in the New England Journal of  
16 Medicine that there is no consistent evidence linking  
17 the introduction of abuse-deterrent opioids to an  
18 increase in use of illicit opioids. It's also  
19 important to underscore that stimulants and opioids are  
20 different. Opioids may cause physical dependence;  
21 stimulants do not.

22 Motivations for nonmedical use are also

1 distinct, pain relief and euphoria for opioids and  
2 primarily performance enhancement for stimulants. AR19  
3 would also be an option among many, not a reformulation  
4 of the entire immediate-release amphetamine market.

5 The at-risk population of older adolescents  
6 and young adults feel comfortable non-orally using  
7 stimulant medications because they were prescribed by a  
8 doctor and are perceived as safe. The likelihood that  
9 AR19 would prompt them to initiate use of illicit  
10 stimulants is low because they are street drugs and are  
11 perceived as dangerous.

12 In summary, no IR amphetamine products impose  
13 barriers to reduce the harms of non-oral use and  
14 prevent progression down a path of more dangerous  
15 drug-taking behaviors. That is why those of us who are  
16 treating physicians see AR19 as a needed treatment  
17 option that could provide extra levels of protection  
18 for our patients with ADHD.

19 Thank you. Dr. Evan Scullin will now moderate  
20 the Q&A period.

21 DR. SCULLIN: Thank you, Dr. Rostain. I'll  
22 turn it to the chair. I'm happy to take any questions.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Clarifying Questions to Applicant**

DR. NARENDRAN: Thank you for the summary presentations. We will now take clarifying questions for Arbor Pharmaceuticals. Please use the raised-hand icon to indicate that you have a question and remember to put your hand down after you have asked the question. Please remember to state your name for the record before you speak and direct your question to a specific presenter if you can. If you wish for a specific slide to be displayed, please let us know what the slide number is if possible.

Finally, it will be helpful to acknowledge at the end of your question with a thank you and end of your follow-up question saying that this is all the questions you have so we can move on to the next panel member. Because it's a big panel, and a lot of you may have questions, I'd like to give everybody a chance, first, who hasn't asked a question, so try to restrict it to a single question.

If you have a related follow-up question that comes up, please feel free to go ahead and ask it. However, please don't string two or three questions

1 back to back, which would mean it would take away from  
2 the other panel members who may have questions, so  
3 please be cognizant of that.

4 We'll go ahead. The first panel member,  
5 Dr. Dunn, ask your question.

6 DR. W. DUNN: Thank you. This is Walter Dunn  
7 from UCLA. My question is for Dr. Kinzler and  
8 Dr. Dillberger.

9 Can you comment on the different extracts that  
10 were manipulated in the in vitro studies, specifically  
11 Conditions 1, 2, 6 and 9. It appears that these -- or  
12 the other extracts, other than 8 that were syringeable  
13 or injectable, can you talk a little bit about how  
14 difficult they were to create in relation to Extract 8?  
15 You mentioned that Extract 8 took approximately 1 hour  
16 of laboratory processes. But as far as the other  
17 conditions, 1, 2, 6 and 9, were they less difficult,  
18 more difficult?

19 Then as a related follow-up question, in a  
20 real-world situation, of those injectable formulations,  
21 what would be the most likely that someone trying for  
22 the first or second time, which of these extracts would

1 they come up with? Thank you.

2 DR. SCULLIN: Yes. Just to remind the  
3 committee that we selected Extract 8 because it had the  
4 highest PEO and highest API content, I'll ask for  
5 Dr. Kinzler to comment on your question in regards to  
6 Extracts 1, 2, 6 and 9.

7 Dr. Kinzler?

8 (No response.)

9 DR. SCULLIN: Dr. Kinzler, you're on mute.

10 (No response.)

11 DR. SCULLIN: Okay. We also have  
12 Dr. Dillberger.

13 Dr. Dillberger, can you provide some comments  
14 in relation to these extracts and the in vitro and  
15 in vivo studies that were conducted?

16 Dr. Dillberger?

17 DR. DILLBERGER: Right. Well, I can speak to  
18 the in vitro studies, the in vitro hemolysis study. We  
19 did evaluate all of those injectable extracts. None of  
20 them produced clinically meaningful hemolysis. They  
21 weren't meaningfully different from the water control  
22 as opposed to the PEO 7 million, which produced a

1 concentration-related hemolysis in vitro.

2 Dr. Kinzler will have to speak to the details  
3 of preparation that you were asking about.

4 DR. KINZLER: Yes, a technical issue. My  
5 apologies.

6 Eric Kinzler. Extracts 1, 2, 6 and 9 were all  
7 less complicated to do, other than, let's see, 2, 6,  
8 and 9 were not manipulated with the optimal procedure,  
9 so they are slightly less complicated. However, what  
10 we found in those, in 1, 2, 6 and 9, is that the amount  
11 of API that's actually recovered is way lower than what  
12 we got from Extract 8, so they're not likely to be  
13 repeatedly used.

14 DR. SCULLIN: And just to remind the  
15 committee, we agreed to eliminate the 40-milligram  
16 dose. So with the 30-milligram dose, this would be  
17 lower than the FDA identified threshold for a  
18 reinforcing dose.

19 DR. NARENDRAN: Does that answer your  
20 question, Dr. Dunn?

21 DR. W. DUNN: Yes. Thank you so much.

22 DR. NARENDRAN: Okay. Thank you.

1 Next question, Dr. Kulldorff?

2 DR. KULLDORFF: Yes, thank you. This is  
3 Martin Kulldorff. This is maybe a question both for  
4 the sponsor and FDA.

5 The sponsor is asking for up to 30 milligrams,  
6 but not 40 milligrams, so why are you presenting the  
7 data in this slide from 40 milligrams? Or if you want  
8 to put the same question another way around, if the  
9 data presented, if it was convincing for 40 milligrams,  
10 why are you not asking for 40 milligrams, but only 30  
11 milligrams and less?

12 DR. SCULLIN: Forty milligrams was selected in  
13 the dose selection phase of the human abuse potential  
14 study as a distinguished dose from placebo, so that was  
15 brought into the main study. As it relates to the  
16 30 milligrams, the proportion of excipients in that  
17 dose would be similar to the 40 milligrams. So you  
18 would have the same manipulation resistance as the 40  
19 milligram or the 30, just a lower API yield.

20 Then finally, we know that users who snort  
21 will typically go from an oral dose that they're  
22 familiar with, say, 20 or 40 milligrams, and use it via

1       their non-oral route. So someone might be using two 20  
2       milligrams, so this would be more material and more  
3       work, time, and effort to get to that, which is highly  
4       unlikely due to the manipulation resistance and what is  
5       required to get to the modified Tool 7. So that  
6       information for the 40 milligrams translates to two 20  
7       milligrams as well.

8               DR. KULLDORFF: Does FDA have a comment on  
9       that?

10              DR. NARENDRAN: Dr. Kulldorff, can we wait for  
11       the FDA's presentation question time to answer that  
12       question --

13              DR. KULLDORFF: Okay. Thank you very much.

14              DR. NARENDRAN: -- to be more efficient?

15       Thank you.

16              Next question is Dr. McGough.

17              DR. MCGOUGH: Thanks. It's Jim McGough from  
18       UCLA. My question is for primarily Dr. Faraone and  
19       maybe perhaps Dr. Rostain. I was struck by the  
20       statement that 45 percent of college students snort  
21       their medication, and I'm a little puzzled by this. I  
22       respect the source that you got that from.

1           Then there's also the implication that those  
2 snorting the medicine go on and have serious addiction  
3 problems, et cetera, but I'm not aware of any study or  
4 signal that's ever suggested people who are treated  
5 with their ADHD medicine have higher rates of  
6 addiction. In fact, if anything, we argue that proper  
7 treatment of the condition mitigates the risk for  
8 substance abuse.

9           So I'm struck a little bit by this disconnect  
10 between the reported high rates of non-oral abuse and  
11 the implications of greater risk for substance abuse  
12 and the dire medical outcomes that Dr. Rostain  
13 mentioned, but yet we don't see that. And then, in  
14 general, again, if anything, people argue that proper  
15 treatment has never been associated with increased risk  
16 of substance addiction, and if anything, it actually  
17 decreases it.

18           So can you just comment on that? Because this  
19 seems to not quite gel with our other information.

20           DR. SCULLIN: Yes. I'd like to get feedback  
21 from Dr. Faraone and Rostain in a moment. If we can  
22 present AM-17, please? Thank you.

1           So your statements are correct. Patients  
2 treated with ADHD stimulant medications have a lower  
3 risk of substance-use disorder, but as we know, and as  
4 the FDA affirmed in their presentation, ADHD patients  
5 have a higher risk of nonmedical use versus non-ADHD  
6 patients, so that's inherent with the condition. But  
7 if its treated, if the condition's properly treated and  
8 managed, that risk is reduced. So this really displays  
9 the medical need to protect these ADHD patients.

10           I'll ask for Dr. Faraone to respond in  
11 relation to the 45 percent, but the FDA has stated in  
12 the Federal Register notice last year that between 10  
13 and 30 percent of college students snort their  
14 medication, and as much as 50 percent, so this data  
15 actually aligns.

16           Dr. Faraone, can you please comment on that as  
17 well?

18           DR. FARAONE: Sure. Dr. McGough is absolutely  
19 correct. Appropriate treatment of ADHD reduces the  
20 risk for substance-use disorders. I've published  
21 meta-analyses on that. But the point is that many of  
22 these college students are not appropriately using

1 their medication. They will, for example, snort their  
2 medication, save it up and snort it so they can stay up  
3 late and study. So it's this inappropriate use that  
4 we're concerned about, not the appropriate use.

5 DR. SCULLIN: Thank you.

6 Dr. Rostain, can you please comment on what  
7 you see in your clinical practice that relates to  
8 non-oral use, particularly snorting?

9 DR. ROSTAIN: The past several decades that  
10 I've been working, prior to coming to Cooper, I've been  
11 at the University of Pennsylvania for 40 years, and  
12 over the last 20 years, I've seen a substantial rise in  
13 both patients reporting non-oral uses and also from our  
14 own internal surveys, as well as published surveys.  
15 It's now become a rather routine part of college life  
16 that if you want to get studying quicker, you just  
17 grind up your immediate-release stimulant and you snort  
18 it.

19 The concern we have is that this is a growing  
20 phenomenon. So, yes, it may seem like a high number,  
21 that data that was reported by the Inflexxion study,  
22 which is really catching what the trend has been over

1 the last several decades. I believe it is a major  
2 health risk. Not to say that they all go on to become  
3 substance users; it just says right now they are  
4 exposing themselves to potentially catastrophic medical  
5 complications, and I've had a few patients end up with  
6 both hospitalizations for medical and psychiatric  
7 serious morbidities having snorted, essentially, their  
8 medication.

9 DR. SCULLIN: Thank you. While we acknowledge  
10 that oral is the most common nonmedical use, the FDA  
11 has affirmed that non-oral use is associated with the  
12 greatest risk of harm. So AR19 would address this need  
13 to address this potential for harm. There have been  
14 over a dozen stimulant medications approved by the FDA  
15 in the past decade. None of them have any properties  
16 that would mitigate this type of non-oral use, so this  
17 would be the first for immediate-release stimulants,  
18 which there are no manipulation-resistant products  
19 today.

20 DR. NARENDRAN: The next question is  
21 Dr. Hernandez-Diaz.

22 DR. HERNANDEZ-DIAZ: Hi. Thank you. This is

1 a question probably for Dr. Setnik. I was wondering  
2 since the viability is similar to the oral route unlike  
3 the opioids, what are the incentives to use snorting or  
4 injection? We have discussed a little bit of that  
5 already, but I was specifically wondering whether there  
6 are comparisons of the liking effects over time between  
7 the intranasal AR19 and the oral formulation, if you  
8 can expand on that comparison of the liking effects  
9 with the oral formulation.

10 DR. SCULLIN: Thank you. The human abuse  
11 potential study really evaluated comparing non-oral of  
12 the API from our current product Evekeo versus AR19.  
13 So we did not have an oral comparator. But I will  
14 show -- if we can pull CO-45, please?

15 To your question, the motivation for someone  
16 to use non-oral, and particularly for snorting in  
17 relation to HAP study, it's a rapid and profound  
18 effect. So they're looking for an effect within the  
19 first 15 minutes. As you can see at the first  
20 15-minute time point, AR19 is indistinguishable from  
21 placebo, and you see distinct differences across all  
22 time points versus API for AR19.

1           So it's this early onset that people are  
2 looking for, but this is true across all the measures  
3 that we saw. These were prespecified analyses that  
4 were consistent across all pharmacodynamic measures.  
5 You can see here for mean high, good effects over time,  
6 as well as -- if we can show CO-48, please?

7           As Dr. Setnik shared in her presentation,  
8 across all the prespecified pharmacodynamic endpoints,  
9 we saw significant differences for AR19 versus API, and  
10 this is a consistent pattern on the prespecified  
11 analysis that the FDA provided input and we took on  
12 board.

13           DR. HERNANDEZ-DIAZ: Thank you. You would  
14 think that the oral effect will take more than 15  
15 minutes, so it's a question of time --

16           DR. SCULLIN: Correct.

17           DR. HERNANDEZ-DIAZ: -- from intake. Okay.  
18 Thank you very much.

19           DR. SCULLIN: They're looking for a faster and  
20 more profound effect.

21           DR. HERNANDEZ-DIAZ: Thank you.

22           I have another question, but it's unrelated,

1 so I will go back online.

2 DR. NARENDRAN: Thank you, Dr. Hernandez-Diaz.

3 Next question, Ms. Witczak?

4 MS. WITCZAK: Hi. My question is I'd love to  
5 know a little bit more about the people that were in  
6 your study, a little bit about their background. I  
7 know you use a 40 and I know the FDA took out some of  
8 the outliers, but who were they? Tell me a little bit  
9 about them.

10 DR. SCULLIN: So you're referring to the study  
11 population in the HAP study.

12 MS. WITCZAK: Yes.

13 DR. SCULLIN: I'll ask Dr. Setnik to respond  
14 to your question in terms of the HAP study population.

15 Dr. Setnik?

16 DR. SETNIK: Yes. This is Beatrice Setnik.  
17 The study population is a face-valid population that's  
18 routinely used for human abuse potential evaluation.  
19 This included healthy male and female subjects. These  
20 were non-dependent, so these subjects were not  
21 physically dependent on drugs or alcohol, but they were  
22 current stimulant users who used stimulants for

1 recreational, non-therapeutic purposes, and they had to  
2 have had at least 10 times in their lifetime experience  
3 with stimulants and at least a recent time once in the  
4 past 12 weeks. They also had to have had intranasal  
5 experience for drug use with recreational,  
6 non-therapeutic reasons.

7 MS. WITCZAK: Just to follow-up, what's the  
8 age group, the ages that were in that?

9 DR. SETNIK: It was 18 to 55 years old.

10 MS. WITCZAK: Okay. And pretty even with men  
11 or male/female?

12 DR. SETNIK: There were more males than  
13 females in the study, as is typical of these types of  
14 studies.

15 MS. WITCZAK: Thank you.

16 DR. NARENDRAN: Next question, Dr. Jeffrey?

17 DR. JEFFREY: Hi there. Thank you. Jessica  
18 Jeffrey from UCLA. I have a question about the  
19 intranasal study. How long did it take to manipulate  
20 AR19 with Tools 4 and 6, and did you use common  
21 household tools to manipulate AR19 in 4 and 6?

22 DR. SCULLIN: Thank you. I'll ask for

1 Dr. Kinzler to respond to your question.

2 Dr. Kinzler?

3 DR. KINZLER: Sure. Eric Kinzler. Tools 4  
4 and 6 actually do take quite a bit less time, but you  
5 get a meager reduction in particle size. Neither  
6 resulted in the majority of particles less than 500  
7 microns, which is FDA's definition of insufflatable.

8 So really, the question is, could a user  
9 insufflate with Tools 4 and 6? The answer's probably  
10 yes, but the particles will be much larger. They would  
11 have quite a bit less surface area, which would inhibit  
12 intranasal absorption, which results in lower plasma  
13 levels and ultimately lower drug liking relative to the  
14 data that were presented today for the human abuse  
15 potential study.

16 DR. JEFFREY: Thank you. And the second part  
17 of my question, were those manipulated using common  
18 household tools?

19 DR. SCULLIN: Dr. Kinzler?

20 DR. KINZLER: Yes. The way that we do these  
21 studies, actually, with this product specifically, we  
22 tested more than 20 household tools. In the case of

1 AR19, none of them successfully reduced the majority of  
2 AR19 particles below 500 microns, which is why we  
3 actually had to create a new tool for AR19, which we've  
4 never done before.

5 So this modification was non-intuitive. It  
6 requires consistent maintenance. In other words, if  
7 you don't continually maintain this tool, it becomes  
8 ineffective, and even then, this physical manipulation  
9 process was physically demanding. It takes about 20  
10 minutes, and actually it takes some skill and  
11 dedication by our laboratory scientists to actually get  
12 it into a consistent and reproducible powder.

13 DR. JEFFREY: Thank you.

14 DR. NARENDRAN: Next question, Dr. Meisel?

15 DR. MEISEL: Steve Meisel with Fairview in  
16 Minneapolis. I don't remember what slide it was, but  
17 it's figure 8 of the sponsor's briefing document, going  
18 back to the primary endpoint. I'd like to focus on  
19 that a little bit if we can get that slide up. It  
20 talks about the primary endpoint, and sponsor knows  
21 which slide I'm talking about.

22 DR. SCULLIN: Yes, we have that for you.

1 DR. MEISEL: There we go. Perfect. Thank  
2 you.

3 So as I read the briefing documents from both  
4 the agency and the sponsor, the target here was a  
5 10 percent reduction, yet all we have here is a  
6 9 percent reduction. I know we've got a PO [ph] of  
7 0.026 and that sort of thing. But if we fail the  
8 primary endpoint, why does the 9 percent matter and why  
9 did the second endpoint matter, if we failed the  
10 primary endpoint?

11 DR. SCULLIN: Yes. Thank you. The reduction  
12 drug liking was consistent with reductions across the  
13 pharmacodynamic findings on the secondary endpoints.  
14 This 69, this will not be obtained by any other method  
15 and is highly unlikely to be attained with modified  
16 Tool 7. There is no established clinically meaningful  
17 threshold for a margin for the stimulant class. This  
18 is the first prescription stimulant to be evaluated  
19 with a margin, and for this reason, this narrowly  
20 missed by 0.001.

21 For this reason, we take in the totality of  
22 the data, and this is what the FDA has stated in their

1 guidance, that we take into account the totality of the  
2 data to consider the evaluation of the product from a  
3 human abuse potential standpoint.

4 DR. MEISEL: Okay. Thank you.

5 DR. NARENDRAN: Next question is Dr. Nelson.

6 DR. NELSON: Thank you. Lewis Nelson from  
7 Rutgers New Jersey Medical School. I think this is a  
8 question for Dr. Kinzler, again, about manipulation.  
9 We learned a lot from the manipulation postmarketing of  
10 OxyContin, and it became pretty evident that people in  
11 the real world became very skilled and creative at  
12 getting oxycodone out of the abuse-deterrent  
13 formulation.

14 I know you used a number of tools, you said  
15 20, and you came up with the one that worked the best  
16 of the 20, and I know you're not allowed to give us the  
17 actual information on what these tools were. But did  
18 you go and look online, on blogs, on Reddit, on other  
19 places to find out what had been used for OxyContin and  
20 try to apply those to this product, or did you use just  
21 a standard battery of assessment tools that would  
22 normally be used for this determination? Thank you.

1 DR. SCULLIN: Thank you.

2 Dr. Kinzler?

3 DR. KINZLER: Yes. Eric Kinzler. That's  
4 exactly what we do. We start with our standard battery  
5 of tools. You can imagine we start with simple things,  
6 spoons and credit cards. If that doesn't work, we  
7 iteratively go to something that's more difficult,  
8 hammers, coffee grinders, that such a thing. If that  
9 doesn't work, then we go up even further and try  
10 different types of coffee grinders, spice grinders,  
11 whatever that happens to be. And if none of those are  
12 effective, we actually have to create a new tool.

13 So basically, all of our experiments are based  
14 upon what is actually done in the real world. I guess  
15 the one thing that I will say is that most of our  
16 experiments that we presented, showing today tools 1  
17 through 7, are the best of the best, so it is very  
18 unlikely that somebody in the real world is going to  
19 come up with a better particle size reduction method.  
20 Then even if they did, with optimal physical  
21 manipulation, AR19 showed a reduction in human abuse  
22 potential study.

1 DR. NELSON: Thank you. I don't want to beat  
2 a dead horse, but those that failed the study history,  
3 you know that old expression. When OxyContin was  
4 originally introduced, I think we, they, you did the  
5 same sorts of studies and showed that it was not  
6 manipulatable to release a substantial amount of  
7 oxycodone, and post-release, it became apparent that  
8 there were ways to do it.

9 So I guess my question is whether you  
10 specifically looked for those other ways, as street  
11 pharmacologists, to figure how to do this, and try  
12 those on your own?

13 DR. KINZLER: Yes, thank you for that. You  
14 are absolutely correct. We found out two or three  
15 weeks ago that category 1, 2, and 3 testing were pretty  
16 predictive of a reduction in real-world abuse for  
17 OxyContin. What's important to realize about that  
18 conclusion is that OxyContin was a first-generation  
19 ADF. It, frankly, can be defeated by simply  
20 manipulating with a very simple household tool for a  
21 couple of minutes, and that's still resulted in  
22 significant reduction in non-oral use.

1           This is simply not the case with AR19. It is  
2 very difficult to manipulate. It takes a whole lot of  
3 time, effort, and, frankly, some skill and dedication  
4 if you really want to get into it. And even then, if a  
5 recreational was able to manipulate it, it'd still  
6 result in less drug liking than anything else on the  
7 market.

8           So again, if you were going to compare  
9 OxyContin to this, there's no comparison, in my  
10 opinion. With roughly 10 years of manipulating these  
11 products, the data we've generated strongly support  
12 that this product is manipulation resistant, and we  
13 would hope that it has a decrease in non-oral use in  
14 the real world.

15           DR. NELSON: Thank you.

16           DR. NARENDRAN: Thank you.

17           I have four more questions and five minutes.  
18 If you guys can keep it really short, the answers and  
19 the questions.

20           Dr. Jain was next.

21           DR. JAIN: Hello. This is Dr. Felipe Jain.

22 My question

1       pertains to the 10 percent reduction in drug liking  
2       that was chosen as the primary outcome. What I believe  
3       I heard in response to the question by Dr. Meisner [ph]  
4       was that no clinically relevant threshold has been  
5       established. I wonder if we could have some comments  
6       regarding any prior literature that would inform the  
7       choice of a primary endpoint for a stimulant deterrence  
8       study. Thank you.

9               DR. SCULLIN: Thanks. I'll ask for Dr. Setnik  
10       to respond here. This was a 10 percent margin for the  
11       primary endpoint of drug liking that was applied, so  
12       there's no precedent for this.

13              Dr. Setnik?

14              DR. SETNIK: Yes. The 10 percent margin came  
15       out through the 2015 opioid guidance, and this is what  
16       was applied for the amphetamine. Typically, drug  
17       liking has been one of the key endpoints of interest,  
18       but generally these types of studies have always  
19       historically been interpreted in the entirety of their  
20       data, the totality across endpoints. So we always look  
21       at patterns and the responses across different  
22       measures, which was what was presented today.

1           So as Dr. Evan had mentioned, this is the  
2 first time that this margin has been applied to the  
3 analysis, which was narrowly missed by 0.001 of a  
4 p-value.

5           DR. JAIN: Thank you.

6           DR. NARENDRAN: Next question is Dr. Zibbell.

7           DR. ZIBBELL: Hey, everybody. Thanks. This  
8 is Jon Zibbell, RTI International. I think this  
9 question is for Dr. Kinzler. I was looking over the  
10 two documents, the two presentations by Arbor, one and  
11 two, in the email that we got, and I just have a  
12 question about the syringeability study, specifically,  
13 the heating.

14           If I read this correctly, you said that your  
15 findings -- I think it was 3, 4, and 5 -- were not  
16 injectable or syringeable because of the heating  
17 element, and they congealed after they were heated, so  
18 they might have turned into a liquid in some type of  
19 cooker. But it congealed too quickly to either be  
20 pulled into the syringe or congealed into the syringe,  
21 making it non-injectable.

22           Can you just explain a little bit the congeal

1 aspect quickly? Thanks.

2 DR. SCULLIN: Thank you. I'll ask for  
3 Dr. Kinzler to respond. Can we have DS-10, please?  
4 Thank you.

5 Dr. Kinzler?

6 DR. KINZLER: Yes. Eric Kinzler. You're  
7 absolutely correct. Everything on that chart,  
8 Extracts 1 through 9, were all done in a laboratory  
9 setting, first of all. It's possible, I suppose, that  
10 an IV user might be able to repeat those, but probably  
11 very unlikely.

12 What you see here is Extracts 3, 4, 5 and 7  
13 are the ones that are not syringeable. So the way that  
14 these are done, as those are manipulated, again, with  
15 the 20-minute procedure, then they're pretreated, and  
16 then they're put into a water bath at near boiling  
17 temperatures for an extended period of time. So by the  
18 time we get those out of those water baths, we are able  
19 to pull those into a syringe for a very short amount of  
20 time. But by the time it would cool off and an IV user  
21 would try to inject it, it becomes impossible to push  
22 it back out.

1 DR. SCULLIN: Thanks, Dr. Kinzler.

2 And just to remind everyone, this was with  
3 manipulated AR19, so they would have had to identify  
4 modified Tool 7, which Dr. Kinzler described earlier.

5 DR. ZIBBELL: Thank you.

6 **Clarifying Questions to FDA**

7 DR. NARENDRAN: I think that's all the time we  
8 have, unfortunately. I want to hand it to the agency  
9 so they will get their 30 minutes, and then if there is  
10 extra time, we can come back to the pending two  
11 questions to Arbor.

12 So again, the same. If you have questions,  
13 please raise your hand icon. Please remember to put  
14 your hand down after the question has been answered.  
15 Please remember to state your name for the record and  
16 specify for a direct presenter, and the agency can pick  
17 who would be the right person to answer.

18 I'll now hand it over to the agency. Anybody  
19 have questions?

20 (No response.)

21 DR. NARENDRAN: Anybody have questions for the  
22 agency please raise your hand.

1 I'll start with Dr. Marshall.

2 DR. MARSHALL: Hi. Brandon Marshall, Brown  
3 School of Public Health. I had a question for the FDA  
4 regarding a statement in the regulatory history. There  
5 was a statement that the prescriptions could be  
6 restricted to newly diagnosed or naive patients, or  
7 perhaps patients currently receiving the standard  
8 product could be prohibited from switching.

9 I thought that was an interesting approach, so  
10 I just wanted to know a little bit more about what that  
11 would look like. Is that conditional on approval?  
12 Would that be a labeling guideline? How much was that  
13 discussed with the applicant and as an option for this  
14 product?

15 DR. FARCHIONE: Sure. Just quickly -- this is  
16 Tiffany Farchione -- can you point to which page? I  
17 have the background document open, and I just want to  
18 make sure I'm referring to the correct --

19 DR. MARSHALL: Yes. That was at the bottom of  
20 page 16 in the FDA briefing document.

21 DR. FARCHIONE: 16.1-6.

22 DR. MARSHALL: Yes.

1 DR. FARCHIONE: Right. Actually, this I think  
2 would be most appropriate for Dominic.

3 Dominic, this is in the CSS comments about  
4 developing abuse-deterrent products.

5 DR. CHIAPPERINO: Hi. I'm sorry. I'm not  
6 familiar with the paragraph you're talking about. I  
7 don't have -- can you read the statement in question?

8 DR. MARSHALL: Sure. It says, "Prescriptions  
9 of AR19 could be restricted to newly diagnosed or  
10 stimulant-naive patients who presumably are less likely  
11 to have already begun abusing stimulants. Established  
12 patients currently receiving amphetamine could also be  
13 prohibited from switching to AR19."

14 It sounded like that was a suggestion made to  
15 the sponsor, and I just wanted to know more about that,  
16 to the extent which that was discussed or how that  
17 would work in practice.

18 DR. FARCHIONE: Right.

19 Dominic, I can give you a little bit of  
20 context, too. It's in the extensive comments that we  
21 made regarding the overarching comment on developing of  
22 an abuse-deterrent stimulant and the appropriateness of

1 looking at the opioid guidances for reference. The  
2 paragraph starts with, "Your NDA submission should  
3 consider this impact on public health and explain how  
4 you will mitigate." I think that in this section we  
5 were just providing some examples of potential options  
6 that they could consider for how you would mitigate the  
7 adverse events for those already abusing stimulants, if  
8 you want to add more to that, Dominic.

9 DR. CHIAPPERINO: Yes. I don't think that  
10 those particular comments originated with the  
11 controlled substance staff. For the postmarket  
12 setting, I don't think that those comments originated  
13 with the controlled substance staff. I'm sorry.

14 DR. MARSHALL: Okay. No problem. Thank you.

15 DR. NARENDRAN: Dr. Staffa has a question, so  
16 I'm going to pass it to her first, before we take  
17 further questions.

18 Dr. Staffa?

19 DR. STAFFA: Yes. This is Judy Staffa from  
20 the Office of Surveillance and Epidemiology. I don't  
21 have a question, but I know a question that came up for  
22 the sponsor, that was also directed to FDA staff, was

1 about the 45 percent prevalence of snorting, and I was  
2 going to ask Dr. Joe Shearer to comment on FDA's  
3 interpretation of the epidemiology data on that issue.

4 So Joe, can you provide some insight of our  
5 thinking on that point?

6 DR. SHEARER: Yes. Thank you, Judy.

7 The finding that we're talking about with the  
8 45 percent among people with ADHD, it's also important  
9 to note that this is among people who have reported  
10 lifetime nonmedical use of prescription stimulants.  
11 Also, it's talking about snorting for across a  
12 lifetime. If you look at snorting within the last year  
13 among this population, it's actually around 25 percent  
14 within the last year.

15 So I think it's important to note this isn't  
16 just among people with just ADHD, but it's among people  
17 with ADHD and a history of nonmedical use, and it's  
18 also reported for lifetime snorting. So I just wanted  
19 to make those two kind of clarifying points. Thanks.

20 DR. NARENDRAN: Thank you for that  
21 clarification.

22 Next question is from Dr. Jain.

1 DR. JAIN: Thank you. Dr. Felipe Jain,  
2 Massachusetts General Hospital. The sponsor has  
3 implied that the primary outcome, that there's no  
4 evidence that it's clinically meaningful and that a  
5 more appropriate clinically meaningful outcome is the  
6 subject's willingness to take the drug again.

7 I wonder if the FDA could comment on the  
8 primary outcome and its clinical relevance, and whether  
9 or not it agrees with the sponsor. Thank you.

10 DR. CHIAPPERINO: Hi. This is Dominic  
11 Chiapperino --

12 DR. FARCHIONE: Thank you, Dominic.

13 DR. CHIAPPERINO: -- for the controlled  
14 substance staff. Regarding the primary endpoint of  
15 drug liking, Emax of drug liking, we do not agree with  
16 the sponsor's interpretation of their data. I think it  
17 was very important that Dr. Farchione highlighted the  
18 issue with the validation test and the completer  
19 population in this HAP study.

20 All of the sponsor's reported results were  
21 using the full 37-subject population, so they talk  
22 about the primary endpoint and it being a near miss for

1 statistical significance. But really, the FDA analysis  
2 modified the completer population in the way that we  
3 think is more appropriate to look at the primary and  
4 secondary endpoints in the study. And when you look at  
5 that modified completer population, they did miss on  
6 the drug liking endpoint, primary endpoint.

7 I think our presentation does acknowledge some  
8 positive results across the study when using the  
9 adjusted completer populations, but there was no  
10 question that the primary endpoints of drug liking Emax  
11 and also the responder analysis did not meet their  
12 endpoints.

13 DR. JAIN: I'm clear on that point, but I'm  
14 not clear on the clinical meaningfulness of the primary  
15 endpoint.

16 DR. CHIAPPERINO: With respect to the  
17 10 percent reduction?

18 DR. JAIN: Yes.

19 DR. CHIAPPERINO: So in all of these studies,  
20 we try to have an endpoint that we think would be  
21 predictive of the real-world effects. We actually do  
22 not have confirmatory evidence for many ADF products

1 that have been on the market. We certainly don't have  
2 any results for a stimulant because there are no  
3 stimulant ADF products on the market. So all of  
4 protocol design features, including the 10 percent  
5 reduction endpoint, are intended to be predictive of  
6 real-world abuse.

7 We don't think a 10 percent margin is actually  
8 a very large margin to beat, but it is reasonable to  
9 set an endpoint such as 10 percent reduction, and even  
10 doing so with a relatively low margin, the study did  
11 not show statistical significance for that endpoint.

12 DR. JAIN: Thank you.

13 DR. NARENDRAN: Next question is Dr. McGough.

14 DR. MCGOUGH: Thanks. Jim McGough, UCLA. I  
15 understand Arbor's data to show that the compound is  
16 not practically manipulatable and extractable, and it  
17 is not syringeable, but the FDA study seems to come to  
18 a different conclusion. I'm not sure if there's some  
19 magic in the FDA labs or what reason.

20 I would like to ask the FDA, can you provide  
21 any explanation as to why you found in contrast to the  
22 Arbor data that you could actually extract it and you

1       could administer an abusable dose of the medicine? Do  
2       you have any explanation as to why their studies are  
3       coming out one way and you were able to find something  
4       different?

5               DR. PONTA: Hi. This is Andrei Ponta, interim  
6       product reviewer. I guess there are a couple of things  
7       to note here. The data presented in the presentation  
8       was actually the sponsor's data. So when we say that  
9       50 percent of the drug product was able to be  
10      syringeable with Condition 8, that was data that was  
11      provided by the applicant, and I don't think we  
12      disagree on that.

13             Where there might be some disagreement was the  
14      difficulty of the extraction and the condition, and we  
15      do not agree that the conditions to extract an  
16      injectable dose was only achievable in a laboratory.  
17      We think that this can be done in the home setting.  
18      Our laboratory also tried different ways to do the  
19      extraction syringeability studies, and they did find  
20      that there were other ways that the applicant did not  
21      attempt, and they were able to get, for example,  
22      amphetamine from multiple capsules.

1 I did want to mention that these studies are  
2 done with common household solvents and using common  
3 household tools. Again, these are not things that need  
4 a laboratory to be accomplished for the extractability.

5 DR. MCGOUGH: So basically, FDA employed  
6 somewhat a different method and they were able to get a  
7 different result.

8 DR. PONTA: The method variation was slight,  
9 as they were still using the same tools, so there were  
10 no new tools used by the FDA. So the same tools, the  
11 same type of treatments, and a slight variation to  
12 those. The laboratory did try -- like I said, a slight  
13 variation to those, and they were able to get a  
14 different amount extracted.

15 There were also differences in the particle  
16 size reduction that we saw. So again, the applicant  
17 stated that no common household tool could get AR19 to  
18 a snortable form. Again, we do not agree with that  
19 assertion. Multiple tools were able to reduce AR19  
20 capsule content to a size amenable to insufflation.  
21 Two tools reduced close to 50 percent of the drug  
22 product to a size of less than 500 microns. This

1 required no pretreatment and only a short preparation  
2 time.

3           Additionally, that one tool reduced 76 percent  
4 of the drug product to a size of less than 500 microns.  
5 This is what the applicant refers to as Tool 7. We  
6 disagree with the applicant's statement that this  
7 toolkit had to be created. This is, again, another  
8 common household tool. We do not consider this a  
9 complex tool that's found in the laboratory. This tool  
10 was modified by the applicant, but this modification  
11 can be done in almost any household, and it was  
12 performed with something that is readily available.

13           DR. MCGOUGH: Okay. Thank you.

14           DR. NARENDRAN: Next question, Dr. Jeffrey.  
15 We have a lot of questions, so if you guys can be very  
16 focused again in questions and answers.

17           Dr. Jeffrey?

18           (No response.)

19           DR. JEFFREY: Sorry. No questions.

20 Dr. McGough asked a question similar to what I was  
21 going to ask. Thank you.

22           DR. NARENDRAN: Thank you, Dr. Jeffrey. I

1 appreciate that.

2 Next question, Ms. Witczak?

3 MS. WITCZAK: Yes. Kim Witczak. As somebody  
4 who has spent their career in marketing and the power  
5 of words, I'm curious what you think of the sponsor's  
6 term using "manipulation resistant" as opposed to  
7 "abuse deterrent." I'd just be curious if that's  
8 something -- because I could see it being a big  
9 potential marketing ability to the doctors and the  
10 public, so I'm curious what your opinions are on that.

11 DR. STAFFA: This is Judy Staffa from OSE. We  
12 see that that's certainly a suggestion, and as we had  
13 mentioned at the meeting about a month ago, we had  
14 quite a lot of input on the use of what kind of  
15 terminology we should be using, and we heard a lot of  
16 folks concerned about the use of the term "abuse  
17 deterrent" and how confusing it can be, and we are  
18 actively discussing that. So I think this is something  
19 we can clearly consider, but we have not made any  
20 decisions about that as of yet.

21 DR. NARENDRAN: Thank you.

22 Next question, Dr. Calis?

1 DR. CALIS: Yes. Thank you very much, and I  
2 appreciate the responses so far. My question has to  
3 do -- and I think it might have been asked earlier but  
4 not answered yet, but as it relates to commenting on  
5 the risk associated with AR19 in terms of abuse  
6 potential for manipulation as it relates to  
7 availability of a 30-milligram dosage form rather than  
8 the previously proposed 40-milligram dosage form. I'd  
9 just like to get FDA's thoughts on that.

10 DR. CHIAPPERINO: Hi. This is Dominic  
11 Chiapperino for the controlled substance staff.  
12 Regarding the fact that most of the category 1 through  
13 3 studies were conducted with 40 milligram, we do not  
14 have a complete set of data for 30-milligram capsules  
15 relative to a comparator.

16 The sponsor did mention the ratio of  
17 excipients and active ingredient in lower strength  
18 tablets, so that would be something to consider, and  
19 maybe lower strength capsules would present challenge  
20 for manipulation, but we do not have a full set of data  
21 for lower strength tablets.

22 I don't know if our OPQ colleagues would like

1 to also comment on that question.

2 DR. CALIS: Thank you.

3 DR. PONTA: Hi. Yes. This is Andrei Ponta.  
4 What I would like to say is just a couple of different  
5 things. Between the 40 and the 30 milligram  
6 excipient-wise, they are proportional, so I think you  
7 can more or less take the data from the 40 milligram  
8 and apply it to the 30. But the applicant also  
9 performed studies with some of the lower strength,  
10 especially the particle size reduction studies, and  
11 most of the results were fairly close in line when you  
12 went down in strength.

13 So I think you can apply the 40-milligram data  
14 mostly to the 30 milligram as well.

15 DR. CALIS: Okay. Thank you.

16 DR. NARENDRAN: Thank you.

17 Next question, Dr. Thomas.

18 DR. THOMAS: Hi. Dr. Patrick Thomas, Baylor  
19 College of Medicine. In the FDA's presentation, there  
20 was some new, recent data about being able to get  
21 usable amounts from multiple capsules.

22 Can the FDA speak to where that data is and

1        what it looked like compared to the applicant's data  
2        related to multiple capsules? Because there was a  
3        difference in terms that they decided they couldn't get  
4        multiple capsules and what they think that difference  
5        might be.

6                DR. PONTA: Hi. This is Andrei Ponta again.  
7        The data, we sent out samples to our laboratory, and  
8        they were only able to finish the studies I think early  
9        this week or late last week, so we weren't able to  
10       include it in the presentation. I tried to address  
11       this a little bit earlier. There were differences in  
12       some of the ways that the study was performed for the  
13       multiple capsule studies and whether or not amphetamine  
14       could be extracted and be syringed for multiple  
15       capsules.

16               I guess I'm not a hundred percent sure how  
17       much I can say about this, so I'll try to stay a little  
18       bit general so there are no details on how to  
19       manipulate the product. I think the deputy labs might  
20       have a couple of tools instead of just a optimal tool  
21       that the applicant suggested, and you can use maybe a  
22       variety of different extraction volumes rather than a

1 constant extraction volume, as the applicant shows.

2 DR. THOMAS: Thank you.

3 DR. NARENDRAN: Thank you.

4 Next question, Dr. Green?

5 DR. GREEN: I had a question about the HAP  
6 studies and whether for the insufflation methodology,  
7 whether insufflation of liquids and a solution, for  
8 instance, the multiple capsule solution would have been  
9 considered? Therefore, how much of the safety data  
10 that is derived from the syringeability and  
11 insufflation studies can we use to inform route of  
12 administration like that?

13 DR. CHIAPPERINO: Hi. This is Dominic,  
14 controlled substance staff. Are you asking if it was  
15 considered whether a liquid form or a gel-like  
16 preparation from AR19 could have been used as one of  
17 the treatments in the HAP study?

18 DR. GREEN: Yes. I'm thinking about a common  
19 practice we see with people insufflating solutions of  
20 drugs that may be -- or medications that have abuse  
21 potential and are prepared as a solution and then  
22 sniffed, as opposed to just powder. Maybe the form of

1 the drug is a film for instance and that is the pathway  
2 for taking. It's made into solution and then sniffed.  
3 It strikes me that this might be a medication, and we  
4 should consider that pathway, too.

5 DR. CHIAPPERINO: Yes. I can't speak to what  
6 people might do with the formulation if it were out in  
7 the real-world setting, but we did consider the  
8 powdered form of AR19 to be the most ideal to use in  
9 this HAP study. We do think there is a  
10 well-established pattern in the community abusing drugs  
11 by the intranasal route of snorting a powdered form,  
12 and I think that the sponsor did you use an appropriate  
13 form for the AR19 treatment.

14 DR. JEFFREY: Okay. Thank you.

15 DR. NARENDRAN: Next question is Dr. Iyengar.

16 DR. IYENGAR: [Inaudible - off mic] -- or  
17 adding. Removing or including a couple of subjects  
18 changes the conclusions qualitatively. How exactly was  
19 the sample size of 37 chosen for the HAP study, and did  
20 you consider increasing it to get some more clarity?

21 DR. CHIAPPERINO: This is Dominic Chiapperino  
22 for controlled substance staff. HAP studies have

1 generally been acceptable for anywhere between 35 and  
2 45 completers. The studies have not traditionally been  
3 larger than that. It is difficult to enroll subjects  
4 sometimes from this population, and we do think that  
5 the statistical analyses that have been done to support  
6 the powering of the study indicates that this small  
7 study population can still provide some meaningful  
8 results.

9 DR. IYENGAR: Thank you.

10 DR. NARENDRAN: Thank you.

11 Next question, Dr. Nelson?

12 DR. NELSON: It's Lewis Nelson from Rutgers.

13 I withdraw my question. Thank you.

14 DR. NARENDRAN: Thank you. Appreciate that.

15 Next question, Dr. Griffin?

16 DR. GRIFFIN: This is Marie Griffin. My  
17 question was about the 30-milligram dose, and it was  
18 answered.

19 DR. NARENDRAN: Thank you again; much  
20 appreciated.

21 Next question, Dr. Hernandez-Diaz?

22 DR. HERNANDEZ-DIAZ: Sonia Hernandez-Diaz,

1       brief question follow-up to the sample size that was  
2       being discussed regarding the different interpretation  
3       from the intranasal-deterrent properties. Was the  
4       problem, the size of the effect or that the p-value  
5       didn't cross the threshold after excluding the patients  
6       and having that smaller sample size?

7               DR. CHIAPPERINO: This is Dominic Chiapperino,  
8       controlled substance staff. It was the latter. There  
9       was not a statistically significant result even with  
10       the modified completer population.

11              DR. HERNANDEZ-DIAZ: But the size of the  
12       effect, if the p-value had been significant with a  
13       larger sample size, the size of the effect was  
14       considered appropriate or meaningful?

15              DR. CHIAPPERINO: You're asking if they had  
16       gotten a statistically significant result on the  
17       10 percent reduction margin, would we have considered  
18       that meaningful.

19              DR. HERNANDEZ-DIAZ: Yes. If the size of the  
20       estimate would have been the same but the p-value had  
21       crossed the threshold, would you have considered that  
22       result meaningful?

1 DR. CHIAPPERINO: Well, we would have  
2 considered that they met the prespecified endpoint and,  
3 yes, we would have considered it meaningful as much as  
4 we're able to say that such a reduction in drug liking  
5 is meaningful. We still don't know how a formulation  
6 that would have that differentiation from the positive  
7 control, how that might modify abuse patterns in a  
8 real-world setting. That would be established by  
9 postmarketing studies.

10 DR. HERNANDEZ-DIAZ: Okay. Thank you.

11 DR. NARENDRAN: Thank you.

12 Next question is Dr. Meisel.

13 DR. MEISEL: Hi. I withdraw my question.

14 Thank you.

15 DR. NARENDRAN: Okay, Dr. Meisel.

16 Another question from Dr. Dunn.

17 DR. W. DUNN: Walter Dunn, UCLA. This is a  
18 question for Dr. Mathew from the FDA.

19 Regarding your prerecorded presentation slide  
20 9, on the last column about number of milligrams of the  
21 PEO, if my interpretation of that is correct, there's  
22 actually different limits of detection for each of

1 those conditions that were tested. For example, for  
2 Condition 2, it was below 3.9 milligrams, so it's  
3 possible that there was PEO excipient greater than a  
4 million dalton at 3.8 milligrams. And if that's true,  
5 the difference in the limits of detection, is that a  
6 function at all of how large the PEO excipients were  
7 present in those conditions?

8 LCDR MATHEW: Hi. This is Lieutenant  
9 Commander Shiny Mathew. Thank you for the question,  
10 and I agree that this is based on the analytical  
11 method, the sensitivity of the analytical method. And  
12 you're correct in saying that for number 2, we may  
13 accept that there is up to 3.8 PEO greater than 1  
14 million dalton. At this time we don't know the various  
15 molecular weights that are present in that category, so  
16 all we can say is that it could be higher than  
17 1 million daltons in size.

18 Did you need further clarification?

19 DR. W. DUNN: Yes, just a quick follow-up. So  
20 the difference in the limit of detection, is that a  
21 function at all of how large the excipients are present  
22 or do we not know that?

1 LCDR MATHEW: We don't know that, and as far  
2 as I understand, they used molecular weight standards  
3 of less than 1 million and more than 1 million, and  
4 they categorized based on that. So at this point, we  
5 don't know what exactly it is that is higher than  
6 1 million.

7 DR. W. DUNN: Alright. Thank you.

8 DR. NARENDRAN: Thank you.

9 Another question from Dr. Mehta.

10 DR. MEHTA: Hi. Reema Mehta from Pfizer,  
11 industry representative, and a question for FDA. In  
12 terms of the data that was presented in the start of  
13 the presentation and in your prerecorded presentation  
14 comparing the sponsor's product to opioid abuse and  
15 misuse, recognizing that I think the opioid rates of  
16 abuse and misuse represent one end of the spectrum  
17 given the opioid crisis, I was curious what FDA  
18 thoughts were from the other side of the spectrum in  
19 terms of what the lower end of the thresholds would  
20 need to be around rates of abuse and misuse and whether  
21 it's in a particular modality of abuse or misuse to be  
22 demonstrative of benefiting from an ADF formulation.

1 DR. STAFFA: This is Judy Staffa from OSE.  
2 Can you repeat the question? I'm not sure I'm  
3 following exactly what you're asking. I know you're  
4 talking about trying to understand misuse or nonmedical  
5 use of stimulants in the context of opioids, but could  
6 you clarify what you're asking us to speak to?

7 DR. MEHTA: Sure, sure, and apologies if it  
8 wasn't clear. I was trying to understand -- since one  
9 of the questions that's posed to the panel is trying to  
10 understand if there is a public health benefit to  
11 having this ADF formulation for the amphetamine class,  
12 and if I understood correctly, the context that was  
13 provided by FDA was a comparison with opioids, my  
14 thought was that the comparison with opioids represents  
15 some of the most concerning end of the spectrum with  
16 respect to a public health benefit.

17 I was curious if on the other end of the  
18 spectrum, if FDA had certain thresholds in mind that  
19 would need to be in the data, from the standpoint of  
20 abuse and misuse, as levels that would be demonstrative  
21 of benefiting from an ADF formulation for this  
22 particular class.

1 DR. STAFFA: I see. Thank you very much for  
2 clarifying. I think I understand your question. This  
3 is Judy Staffa again. I think that's exactly one of  
4 the questions that we've posed for the committee to  
5 discuss, actually. Just to be clear, the sponsor  
6 followed the guidance for developing abuse-deterrent  
7 formulations of opioids because that was the only  
8 guidance available, but that's not to say that that's  
9 what the agency thinks. I think that's one of the  
10 issues that is challenging here to discuss because of  
11 the differences that you see between the epidemiology  
12 of the nonmedical use of each class of products, as  
13 well as the consequences of those.

14 So we don't really have a specific threshold  
15 in the opioid era, which I think is described in the  
16 guidance why we did not set thresholds, and I think  
17 that is one of the issues that makes it difficult to  
18 make policy decisions about. So I think what we are  
19 looking for this afternoon is a discussion of exactly  
20 that point. So I think I'll leave that there.

21 DR. NARENDRAN: Thank you.

22 Dr. Boudreau?

1 DR. BOUDREAU: Hi. Denise Boudreau. I was  
2 wondering if the agency could comment on the  
3 appropriateness and representativeness of the age of  
4 the population given the motivation for this abuse-  
5 deterrent, manipulation-resistant -- whatever you want  
6 to call it -- seems partly motivated by the higher  
7 rates of misuse and abuse in the adolescent and college  
8 population. My second part of that question is I  
9 realize the sample size is small, but whether you would  
10 expect drug liking to differ by age and if that was  
11 looked at all. Thank you.

12 DR. FARCHIONE: So you're asking specifically  
13 about the population that was used in the HAP study or  
14 are you asking about the potentially indicated  
15 population in an eventual label if the product was  
16 approved?

17 DR. BOUDREAU: Specifically about the HAP  
18 study and the representativeness of that, like what the  
19 market is for the product.

20 DR. FARCHIONE: In that case, I will pitch it  
21 to Dom.

22 DR. CHIAPPERINO: Yes. Hi. This is Dominic

1 Chiapperino. I don't think that the study protocol  
2 differed from typical HAP studies in the inclusion  
3 criteria with respect to age. It is true that there  
4 seems to be more manipulation going on, based on the  
5 epi data that was discussed today, in the younger age  
6 population, but we did not advise to enrich the  
7 enrolled population with respect to a particular age  
8 category, I believe it was 18 to 55, and I'm afraid I  
9 don't have an age breakdown. Maybe the sponsor would  
10 like to comment, if they would, on the actual age range  
11 of their subjects.

12 DR. SETNIK: Yes. The age range --

13 DR. SCULLIN: Sorry. Go ahead. Is that  
14 Dr. Setnik?

15 DR. SETNIK: Yes.

16 DR. SCULLIN: Okay. Thank you.

17 DR. SETNIK: The age range was -- it's a  
18 prespecified range -- 18 to 55. The range in the study  
19 was 18 to 50 with a mean age of 34.6. We can give a  
20 further breakdown of that, but those are the high level  
21 summary for the demographics.

22 DR. NARENDRAN: Thank you.

1           This is Raj Narendran. I have a question for  
2 the agency. How often does a positive control  
3 validation fail in a HAP test, in your experience? I  
4 mean, is this quite often in stimulants, opioids, and  
5 other substances that are tested in these HAP tests?

6           DR. CHIAPPERINO: This is Dominic Chiapperino.  
7 Yes, that's a very good question. The validation tests  
8 generally do not fail very frequently. It happens  
9 occasionally. There may be some differences with  
10 respect to drug class, and sometimes some of the drugs  
11 under investigation do not have quite the reinforcing  
12 effects as other classes of drugs. I think opioid HAP  
13 studies tend to validate more easily than some other  
14 classes of drugs.

15           This is the first NDA for an ADF stimulant,  
16 and most of these drugs have been scheduled long ago.  
17 They are sometimes used as positive comparators for new  
18 molecular entity stimulants, and they do not tend to  
19 fail validation tests, generally.

20           DR. NARENDRAN: Thank you.

21           I think the only person I have on my  
22 list -- I'm not sure.

1 Dr. Dunn, do you have a question? And I think  
2 that would be the last question if you do.

3 DR. W. DUNN: Oh, sorry. I forgot to put my  
4 hand down.

5 DR. NARENDRAN: Okay. So I think we  
6 could -- I'm sorry. Does Dr. Calis have a question?

7 Dr. Calis?

8 DR. CALIS: No. I'm sorry. I probably should  
9 adjust my hand raise. I'm sorry.

10 DR. NARENDRAN: Okay. I'm only 7 minutes over  
11 time, so I think we can now break for lunch. I want to  
12 thank the agency and the sponsor for answering and  
13 clarifying our questions. We can reconvene in one hour  
14 at 1 o'clock.

15 Panel members, please remember that there  
16 should not be any chatting or discussion of the meeting  
17 topics with other panel members during the lunch break.  
18 Additionally, you should plan to rejoin at around  
19 12:45 p.m. to ensure that you're connected before we  
20 reconvene at 1 o'clock so we can get the OPH session  
21 started on time. Thank you.

22 Any other things, Latoya? Dr. Bonner, any

1 closing comments before lunch?

2 DR. BONNER: Nothing for me. Thank you, sir.

3 DR. NARENDRAN: Okay. We can now break for  
4 lunch. Thank you.

5 (Whereupon, at 12:08 p.m., a lunch recess was  
6 taken.)

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

1                   A F T E R N O O N   S E S S I O N

2   (1:02 p.m.)

3   **Open Public Hearing**

4                   DR. NARENDRAN: We will now begin the open  
5 public hearing session.

6                   Both the FDA and the public believe in a  
7 transparent process for information gathering and  
8 decision making. To ensure such transparency at the  
9 open public hearing session of the advisory committee  
10 meeting, FDA believes that it is important to  
11 understand the context of an individual's presentation.

12                   For this reason, FDA encourages you, the open  
13 public hearing speaker, at the beginning of your  
14 written or oral statement to advise the committee of  
15 any financial relationship that you may have with the  
16 sponsor, its product and, if known, its direct  
17 competitors. For example, this financial information  
18 may include the sponsor's payment of expenses in  
19 connection with your participation in the meeting.

20                   Likewise, FDA encourages you at the beginning  
21 of your statement to advise the committee if you do not  
22 have any such financial relationships. If you choose

1 not to address this issue of financial relationships at  
2 the beginning of your statement, it will not preclude  
3 you from speaking.

4 The FDA and this committee place great  
5 importance in the open public hearing process. The  
6 insights and comments provided can help the agency and  
7 this committee in their consideration of the issues  
8 before them. That said, in many instances and for many  
9 topics, there will be a variety of opinions. One of  
10 our goals today is for the open public hearing to be  
11 conducted in a fair and open way, where every  
12 participant is listened to carefully and treated with  
13 dignity, courtesy, and respect. Therefore, please  
14 speak only when recognized by the chairperson. Thank  
15 you for your cooperation.

16 Speaker number 1, your audio is connected now.  
17 Will speaker number 1 begin and introduce yourself?  
18 Please state your name and any organization you are  
19 representing for the record.

20 MR. CATTOI: My name is Robert Cattoi. I'm  
21 representing CHADD, Children and Adults with  
22 Attention-Deficit/Hyperactivity Disorder. We

1 appreciate the opportunity to comment on the  
2 development and evaluation of abuse-deterrent  
3 formulations of central nervous system stimulants.  
4 Founded in 1987, CHADD is a national nonprofit  
5 dedicated to the mission of improving the lives of  
6 people with ADHD. As home of the CDC-funded National  
7 Resource Center on ADHD, CHADD is the trusted source  
8 for evidence-based information in attention deficit  
9 hyperactivity disorder.

10 An estimated 4.4 percent of U.S. adults meet  
11 the criteria for ADHD in the U.S. based on clinical  
12 interview. ADHD is estimated to affect nearly  
13 10.5 million adults in the U.S. While ADHD is  
14 recognized as a neurobiological condition that causes  
15 significant impairment, it's important that ADHD must  
16 be viewed as not just a mental health disorder that is  
17 a specific domain of mental health and special  
18 education, but as a public health problem, producing a  
19 substantial impact on the health quality of life and  
20 economic viability of the U.S. population.

21 Research shows that individuals with untreated  
22 ADHD are at a greater risk than unaffected individuals

1 for eating disorders; obesity; diabetes; sleep  
2 disorders; dental issues; substance-use disorder,  
3 including smoking; unemployment; academic  
4 underachievement; accidental injury; teenage pregnancy;  
5 sexually transmitted diseases; depression, suicide; and  
6 premature death.

7 The economic cost of ADHD in the United States  
8 ranged from 143 to \$266 billion annually. This makes  
9 ADHD a far too costly disorder to continue to be  
10 undertreated or even ignored not just in mental health  
11 settings, but especially by primary care, government  
12 public health programs, third-party payers,  
13 particularly among adults.

14 Fortunately, ADHD is a condition for which we  
15 have viable treatments. The multimodal treatment  
16 approach using medication and behavioral therapy has  
17 been shown to reduce symptoms of impulsivity,  
18 inattention, and hyperactivity. Treatment allows  
19 individuals to focus, work, and to learn. Stimulant  
20 medications are generally the first-line treatment for  
21 ADHD because they're highly effective at reducing  
22 symptoms. Although highly effective, problems of

1 nonmedical misuse and abuse of prescription stimulants  
2 among adolescents, college students, and adults has  
3 become evident.

4 Estimates of nonmedical use of stimulant  
5 medications vary widely, depending on the definitions  
6 in different studies. The population-based estimates  
7 of the National Survey on Drug Use and Health reported  
8 that approximately 5 million adults had engaged in  
9 nonmedical use of stimulant medication at least once in  
10 the last year. This nonmedical use places a  
11 substantial burden on healthcare facility use. Data  
12 from 2005-2010 show an increase between 67 to  
13 156 percent in the number of emergency room visits for  
14 nonmedical use of stimulant medications.

15 CHADD applauds any steps that reduce misuse  
16 and abuse of these medications without impeding access  
17 and affordability for those who require different  
18 treatment. We recognize the development of abuse-  
19 deterrent formulations of stimulant medications provide  
20 a means, albeit limited, of reducing abuse. Research  
21 suggests that between 550,000 and 2 million U.S. adults  
22 snort stimulant medications each year, and between

1 50,000 and 550,000 don't smoke or inject stimulant  
2 medications each year.

3 Data from poison control centers revealed that  
4 the odds of dying from nonmedical use of stimulants is  
5 13 times greater for nasal abusers and 22 times greater  
6 for intravenous abusers than for oral abusers. While  
7 ADFs may help reduce abuse to snorting, smoking, or  
8 injection, it's important to recognize the majority of  
9 abuse of stimulant medications is due to an oral route,  
10 which will not be deterred by ADF. But given that 38  
11 to 40 percent of stimulant misusers are doing so via  
12 intranasal and intravenous use, we support the  
13 development of a stimulant that has abuse-deterrent  
14 physical features. This would be a very helpful  
15 addition to the repertoire of ADHD medications  
16 prescribed to high school and college students, those  
17 at the highest risk for abuse.

18 It's CHADD's position that all individuals  
19 with diagnosed ADHD should have access to the full  
20 range of safe and effective prescription medications  
21 indicated to treat ADHD. In light of rampant  
22 misconceptions about ADHD treatment, the statements

1 around the disorder, and other external pressures,  
2 individuals with ADHD often face significant barriers  
3 to accessing prescribed medication.

4 We respectfully urge the FDA to consider these  
5 systemic burdens on the ADHD population. Any steps to  
6 reduce stimulant abuse must not be taken at the expense  
7 of ADHD patients' access to required medical treatment.  
8 Individuals with ADHD often experience great hardship  
9 with timely and affordable access to prescribed  
10 medications. It's imperative that the FDA's actions to  
11 reduce stimulant abuse, including approval of abuse-  
12 deterrent formulations of prescription stimulants, not  
13 exacerbate current coverage and access hardships.  
14 Thank you for allowing us to comment on this issue.

15 DR. NARENDRAN: Thank you. Speaker number 2,  
16 please step up to the podium.

17 MS. SEYMOUR: Hi. My name is Kristin Seymour.  
18 I'm an advanced practice nurse and I have no financial  
19 disclosures with any parties involved in this  
20 testimony. I appreciate the opportunity to share my  
21 experience as an ADHD patient and as an ADHD coach.

22 I'm an advanced practice registered nurse in a

1 large tertiary hospital, and I've practiced in  
2 cardiovascular nursing consistently since 1995. I  
3 currently also have a side practice, robust side  
4 practice, coaching ADHD teens across the globe since  
5 2016. I have lived with ADHD since childhood, which  
6 went long undiagnosed until 1991, at age 19 when I was  
7 evaluated and diagnosed by a neurologist. I was  
8 treated with stimulant medication, which drastically  
9 changed my life for the better.

10 The goal of all healthcare practitioners is to  
11 ultimately treat patients and reduce the risk of harm.  
12 To date, there is not a manipulation-resistant ADHD  
13 formulation or medication available to providers.  
14 Prescribers and clinicians must weigh all actual and  
15 potential risks and benefits when implementing  
16 stimulant medication therapy. Further, clinicians must  
17 consider the family members and friends around that  
18 patient who also may have access to the stimulant  
19 medication.

20 Teens today can easily access and misuse drugs  
21 faster than they can have a pizza delivered. Social  
22 media, such as Snapchat, Instagram, Facebook, and

1       Twitter, are all sources of how teens access and share  
2       medication. Parents are often unaware their team may  
3       be involved in selling, abusing, or sharing their  
4       stimulant medication, as the teens exchange the  
5       stimulant for food delivery apps to home or school.  
6       This way, there's no cash supply to trace.

7               Stimulant misuse and abuse is definitely  
8       present in our communities and we need to take action.  
9       There has never been a medication that is as difficult  
10      to manipulate or abuse before the inception of AR19.

11              Many teens perceive stimulant medication  
12      prescribed by a, quote, "physician" and person their  
13      mother took them to see, and that the stimulant was  
14      given by a trusted parent, as safe. Therefore, the  
15      patient will share the stimulant to, quote, "help a  
16      friend" with a test or concentration, or rather that  
17      teen likes how the medication makes them feel, so they  
18      take more of it, not as prescribed. This is  
19      particularly true with impulsive ADHD teens.

20              For the younger population we are talking  
21      about today, their brain is not developed enough to  
22      know how to handle medication. Even if prescribed, the

1 medication is perceived as safe because it's a  
2 prescription and one their parent administered to them.  
3 Two trusted sources, parents and physicians are  
4 involved in the administration of the medication, so  
5 minors feel it's not something that can hurt them.

6           Teens base risk on someone they know having a  
7 bad outcome. Mortality is based on what they witnessed  
8 to be a risk. Countless patients age 12 to 20 years  
9 old have confided in me that they have been solicited  
10 to give or sell their stimulant medication to a friend  
11 for various reasons. Most teens who admitted this in  
12 sharing, practice, or being solicited to misuse  
13 stimulants are so they can stay up longer, party  
14 harder, drink more, or recover faster the next morning  
15 from a late night.

16           This medication, if approved, would reduce the  
17 risk of harm for most of the kids we're discussing  
18 today because they can't break it down easily to abuse,  
19 misuse, or share for a high. The effort someone would  
20 have to pursue in order to get this medication to a  
21 state of abuse and danger is beyond the ability of the  
22 young people and teens we are discussing today, those

1 of whom this medication would be prescribed and benefit  
2 from. They are not a sophisticated user, and this is  
3 an important and needed first step. Thank you for your  
4 time and consideration.

5 DR. NARENDRAN: Thank you.

6 Speaker number 3?

7 DR. COTTLER: Hi. I'm Linda Cottler. I'm  
8 associate dean for research, dean professor, and former  
9 founding chair of the Department of Epidemiology at the  
10 University of Florida. I have conducted every aspect  
11 of research, starting as research coordinator, and I've  
12 been funded as principal investigator by the National  
13 Institute on Drug Abuse continuously since 1989, and  
14 been funded by other NIH Institute foundations and  
15 agencies. I'm a psychiatric epidemiologist and my work  
16 has involved primary data collection with people 4 to  
17 96 years of age. Most of my research has focused on  
18 community dwelling populations.

19 Today, I offer comments based on my team's  
20 research on the critical need for a  
21 manipulation-resistant ADHD formula for youth. The  
22 preteen age is critical and often overlooked in

1 studies, as are details on the patterns of use and  
2 diversion. My comments are based on findings of two  
3 multi-city cross-sectional studies focused on  
4 prescription stimulant misuse among youth. The first  
5 was funded by Pinney Associates, the second by Arbor  
6 Pharmaceuticals. Neither had a role in the conduct of  
7 the study or the reporting of the findings.

8 The National Monitoring of Adolescent  
9 Prescription Stimulants study, N-MAPSS, was conducted  
10 from 2008 to 2011 across 10 U.S. urban, rural, and  
11 suburban cities. 11,048 youth, 10 to 18 years of age  
12 were included. 14.8 percent of youth reported using  
13 prescription stimulants in their lifetime. Past 30-day  
14 use was 6.6 percent with 17 percent reporting any  
15 non-oral use; 96 percent was by snorting or sniffing, a  
16 result of manipulation.

17 Non-oral users overwhelmingly got their pills  
18 from peers or at school and were over 24 times as  
19 likely to say they used to get high and 13 times as  
20 likely to report history of illicit drug use than  
21 medical users. Sixty-three percent of youth reported  
22 that prescription stimulant use was a moderate to large

1 problem among youth.

2 N-MAPSS' youth were asked at the end of the  
3 interview, "If you ran the world, how would you stop  
4 kids from taking other people's prescription  
5 medicines?" A large proportion of youth mentioned  
6 creative ways to stop misuse, showing that even the  
7 10- to 12-year-old group understands the need to reduce  
8 the ability to misuse.

9 Next, the Study of Non-Oral Administration of  
10 Prescription Stimulants, SNAPS, was conducted in fall  
11 2018 across 6 cities in California, Texas, and Florida.  
12 SNAPS included 1777 youth, 10 to 17 years of age.  
13 Eleven percent reported prescription stimulant use in  
14 their lifetime and past 30-day use was reported by 7  
15 and a half percent. Among those users, 20 percent  
16 reported non-oral use with 88 percent reporting  
17 snorting, sniffing, injecting, or smoking. Both past  
18 30-day and non-oral use rates were higher here than in  
19 N-MAPSS.

20 Diversion was high. 11.4 percent in N-MAPSS  
21 was the most common pattern involving buying or getting  
22 a stimulant from someone else. In SNAPS, 12.7 percent

1 diverted with the least common pattern involving buying  
2 or getting them. More youth sold or gave away their  
3 pills. Among non-oral users, the rate of diversion  
4 increased to 70 percent versus 37 percent of oral-only  
5 users and 9 percent of non-using youth.

6 Manipulation-resistant formulations like AR19  
7 are just one strategy in an overall public health  
8 harm-reduction approach for non-oral use. This new  
9 formulation would minimize tampering so that youth  
10 could not snort, sniff, smoke, or inject these  
11 stimulant pills. Manipulation-resistant ADHD  
12 medication is not currently available, but we have seen  
13 from N-MAPSS, and more recently in SNAPS, that it is  
14 needed.

15 We need to reduce the risk of non-oral use,  
16 especially now during the current COVID pandemic when  
17 youth are bored, impulsive, and seeking recreational  
18 activities, and when the FDA could be in the spotlight  
19 for not doing all that it could to reduce the harm  
20 associated with these controlled substances. Thank  
21 you.

22 DR. NARENDRAN: Thank you.

1 Speaker number 4?

2 MR. BORGSCHULTE: My name is Luke Borgschulte.  
3 I'm 29 years old and I have no financial disclosures.  
4 I was first diagnosed with ADHD in 2014 after my fourth  
5 year in college. I wish I had been diagnosed earlier  
6 in life, as it could have helped me understand what I  
7 was going through and to get the treatment that was  
8 right for me. Instead, I turned to friends,  
9 acquaintances, and really anyone who could help get  
10 ADHD drugs that would help me to deal with the things I  
11 was experiencing, and it was easy.

12 These ADHD drugs are really available from  
13 doctors, parents, medicine cabinets, and even kids who  
14 needed the meds would sell them for a fast buck. After  
15 experimenting with these drugs orally, I was introduced  
16 by a friend to snorting them and injecting stimulants  
17 to get a bigger and a faster rush. Other kids in  
18 college were using them like this, too, at crunch times  
19 to get through finals, to party at tailgates longer,  
20 and recover to study again.

21 I don't think about the risk of snorting these  
22 stimulants when I was doing it because no one I knew

1 had anything bad happen to them. That's the way kids  
2 in college think. They just aren't intimidated by the  
3 risk involved in snorting drugs because most of us  
4 never experienced someone overdosing, or dying, and  
5 getting sick is sort of just brushed aside as kind of  
6 expected, like being hungover after a night of  
7 drinking.

8 I believe this is a problem in most colleges  
9 because of what kids saw and learned before they came  
10 to campus. The internet highlights some of these  
11 stupid behaviors by broadcasting videos of them on  
12 Facebook, Instagram, Snapchat, and most kids get a  
13 laugh by watching them. It's not taken seriously.

14 I think a manipulation-resistant stimulant,  
15 like the one you are looking at today, could help a lot  
16 of kids avoid the slippery slope that comes with  
17 snorting or injecting these medications. These kids  
18 don't know any better, but you do. You can make a  
19 decision today that would help a lot of kids reduce the  
20 risk of snorting it or injecting these drugs.

21 Thank you guys very much. I appreciate it.  
22 You have a great day.

1 DR. NARENDRAN: Thank you.

2 Speaker number 5?

3 DR. CHILDRESS: Thank you for the opportunity  
4 to speak today. I am Dr. Ann Childress, child  
5 adolescent and adult psychiatrist practicing in Nevada.  
6 Treatment of ADHD is my area of expertise. I've been  
7 in practice for 28 years and conduct pharmaceutical  
8 trials for ADHD and follow the subjects after they  
9 complete clinical trials.

10 I've worked with most of the FDA-approved  
11 medications in clinical trials and have treated  
12 thousands of patients with ADHD. As disclosures, I  
13 have been paid as a researcher, consultant, and advisor  
14 by most of the companies with branded ADHD products on  
15 the market, including Arbor for work with Evekeo and  
16 AR19.

17 Some of the patients I've treated I continue  
18 to treat for more than 20 years. Many of these kids  
19 began treatment before age 8, so I continue to see them  
20 as teenagers and adults. Today, I am speaking on  
21 behalf of my patients and their peers in support of the  
22 abuse-deterrent amphetamine formulation AR19, and I am

1 not receiving any compensation for my testimony today.

2 Most of my patients are treated with  
3 stimulants, including amphetamines, because we know the  
4 data show stimulants are more effective than other  
5 medications for the treatment of ADHD. I try to avoid  
6 prescribing immediate-release stimulants whenever  
7 possible for a number of reasons. One, I prefer to  
8 treat patients with extended-release medications,  
9 however, many need a shorter-acting or a booster dose  
10 because the extended-release medications often do not  
11 provide all-day efficacy for their particular  
12 situation.

13 Some of my college student patients have  
14 classes both early in the day and in the evening, and  
15 their symptoms can't be managed with just one  
16 extended-release medication. Other patients work  
17 full-time and attend school in the evening. Some of my  
18 high school students have jobs that they have to drive  
19 to after school.

20 However, whenever I write a prescription for  
21 an immediate-release stimulant, especially an  
22 amphetamine, for adolescents and young adults, I do

1 with a great deal of forethought and some trepidation.  
2 I discuss proper use and misuse with my patients and  
3 tell them that sharing their medication with another  
4 person is a felony.

5 The conversation starts with my early  
6 adolescent patients. When treating my patients who are  
7 about to leave for college, I advise them to lock up  
8 their medications and not let classmates know that  
9 they're taking medicine for ADHD. I tell them I won't  
10 fill prescriptions early no matter what the excuse,  
11 however, I know that my counsel may not prevent  
12 patients from sharing their medication.

13 I'm familiar with the literature for  
14 nonmedical use and know that misuse and abuse of  
15 stimulants is a significant concern with college  
16 students and young adults. In that comprehensive  
17 meta-analysis, Benson, et al. found that students  
18 misuse stimulants primarily for academic reasons, and  
19 the most common source for obtaining stimulant  
20 medication is from peers with prescription.

21 I also have concerns about the route of  
22 ingestion. In a survey by White, et al., more than

1 16 percent of students at the University of New  
2 Hampshire reported nonmedical use of stimulants and  
3 almost half reported misusing or abusing at least 2 or  
4 3 times a month or more. For more than 40 percent, the  
5 preferred method of misusing was snorting, and that's  
6 why it's so important for me to educate my patients.  
7 My patients have had a thorough medical history, and  
8 weight and blood pressure and pulse are checked at  
9 every visit. Individuals who misuse the medications  
10 may not have been evaluated medically and are at  
11 increased risk for adverse cardiac effects.

12 I don't know how often my patients attempt to  
13 divert or misuse stimulant medication because I don't  
14 find out unless they're caught. One patient with ADHD  
15 recently was expelled from high school after giving  
16 some of her immediate-release methylphenidate to a  
17 friend. I had another teen with ADHD who was on a  
18 non-stimulant, who wound up in the emergency room with  
19 dystonic reactions after snorting his little brother's  
20 immediate-release methylphenidate. Now I advise  
21 parents to lock up all the medications in the home and  
22 to always supervise dosing.

1           So if nonmedical use is occurring with my  
2 patients, and I'm careful, I worry what is happening  
3 with most of my colleagues who don't have the time to  
4 educate their patients. I urge the committee to  
5 support the approval of AR19 to help deter nonmedical  
6 stimulant use. Being able to prescribe an amphetamine  
7 formulation that will deter non-oral routes of  
8 administration will give me peace of mind when I'm  
9 treating my teens and young adults, and it may save  
10 someone else's life. Thank you very much.

11           DR. NARENDRAN: Thank you.

12           Speaker number 6?

13           MS. BUCKNER: Thank you for the opportunity to  
14 speak with you today. My name is Julie Buckner and I  
15 am not receiving any compensation for the testimony. I  
16 am here because six years ago, I received the most  
17 horrific news that any parent could hear, that my  
18 22-year-old son, Josh Levine, died from acute alcohol  
19 intoxication, which was exacerbated by having started  
20 an ADHD stimulant that had not been prescribed to him.

21           Josh was not an addict or an alcoholic. He  
22 was a promising young man who had just graduated from

1 the University of Michigan, where he was a full-time  
2 student and the student manager of the football team.  
3 He was a loving son and kind of a mama's boy, whose  
4 last words to me the day before he died were, "I love  
5 you too, Mom."

6 I was aware of the misuse of stimulants as a  
7 study aid, but I was completely ignorant of the misuse  
8 of snorted stimulants with alcohol as a party drug  
9 until after his death. It wasn't until the coroner  
10 called me with questions regarding whether or not he  
11 had ADHD because of the presence of stimulants in his  
12 system that I began to learn of this practice among  
13 college students and others.

14 On college campuses and elsewhere, stimulants  
15 have been co-ingested with alcohol for the purpose of  
16 being able to drink more without falling asleep or  
17 passing out since the stimulant keeps one awake. With  
18 that, the stimulant also lessens the visible effects of  
19 the alcohol, so the person can keep drinking without  
20 feeling as drunk as what the alcohol is processing  
21 within the body.

22 It's common on a college football Saturday for

1 students to snort an ADHD stimulant to be able to drink  
2 excessively and still be awake for the game and beyond.  
3 They have no idea of the serious repercussions that can  
4 happen from hospitalization to death. Because these  
5 drugs are sold or shared by students who have a proper  
6 prescription, people without a prescription think it's  
7 a risk-free drug to use recreationally with no thought  
8 of dangers.

9 So in Josh's case, he kept drinking without  
10 realizing his blood alcohol was rising dramatically,  
11 until he collapsed on the sidewalk as he was walking to  
12 his apartment, only to be resuscitated and declared  
13 brain dead at the hospital. The person who supplied  
14 the stimulant at the private party he attended was a  
15 college friend, not a stranger. The medication was  
16 prescribed not from the black market. By all accounts  
17 of speaking with his friends, this was not a behavior  
18 in which he regularly engaged.

19 Since Josh died, I founded the Joshua Levine  
20 Foundation to raise awareness of the dangers of  
21 co-ingesting stimulants with alcohol, along with the  
22 perils of binge drinking, by speaking with high school

1 and college students as well as others. Arbor  
2 Pharmaceuticals is aware of this problem, and that is  
3 why they have been working on AR19. I definitely  
4 believe that a manipulation-resistant stimulant to  
5 treat ADHD could help prevent tragedies like I've  
6 experienced.

7 Many high school and college students would  
8 not go to the black market; they would simply rely on  
9 friends to share or sell their prescribed ADHD meds.  
10 If there are more manipulation-resistant ADHD meds on  
11 the market, it could help prevent this practice, which  
12 would help prevent near-fatal and fatal events like the  
13 ones that my family has experienced. I wholeheartedly  
14 support the approval of AR19, and I thank you so much  
15 for your time.

16 DR. NARENDRAN: Thank you.

17 Speaker number 7?

18 DR. GREENBERG: This is Dr. Doris Greenberg,  
19 and I thank you for letting me speak today. I have  
20 been asked to be an advisor for Arbor recently for a  
21 panel to discuss drug misuse. I've been a doctor for a  
22 long time, 55 years, and a developmental pediatrician

1 for 50 years, and actually I care for ADHD patients  
2 from childhood through adult years because of the  
3 difficulty finding adult physicians who would like to  
4 take on ADHD adults.

5 Stimulant medications have had a wonderful  
6 effect in treating these patients, and when used  
7 appropriately under medical supervision, they have  
8 really improved the lives of countless patients, so  
9 much so that I have over 250 grand-patients whose  
10 parents were my patients years ago with the same.  
11 Unfortunately, some of the medications, especially the  
12 short-acting forms of amphetamines, and less often the  
13 methylphenidate, have been misused, especially in the  
14 nasal respiratory or IV route. This happens mostly in  
15 college students and young adults and older  
16 adolescents.

17 Over the years, there's been a "don't  
18 ask/don't tell" culture amongst teens and young adults  
19 about the misuse of stimulant medications. Teens and  
20 college students rarely bring this up in my office.  
21 Physicians have not been made aware of the dangerous  
22 intranasal, injected, or smoked stimulants until

1 recently when surveys were taken and published, showing  
2 that this is an extraordinary danger.

3           When asked directly about their use in the  
4 office, in the presence of their parents, I've heard  
5 how common it is, for college students especially, to  
6 have been approached to sell or give their legitimately  
7 prescribed short-acting amphetamine to study or party  
8 so that they could drink more. But most of the time,  
9 it's not forthcoming, and the parents look at me as if  
10 to say, "Why are you asking my son about this?"

11 Unfortunately, the non-oral use, as you have just  
12 heard, can be fatal, especially when mixed with other  
13 drugs or alcohol. Street-wise young people have  
14 experimented with many ways to use these stimulants  
15 non-orally.

16           We counsel our patients regularly to prevent  
17 misuse of their prescribed medications, but parents are  
18 still very naive about the street use of the stimulant  
19 medications and they think this just doesn't happen in  
20 their home. We role play what would they say if  
21 someone approaches them to divert their medicine.  
22 Intranasal, smoking, or IV use has made the legitimate

1       prescribing of these medicines more difficult, as we  
2       worry that we could cause serious harm.

3               It is hard to believe that up to 40 percent of  
4       college students have experienced such situations, and  
5       the most abused prescription stimulation medicine is  
6       Adderall in a short-acting form, the mixed amphetamine  
7       salts. The extended-release formulations have been  
8       helpful in reducing misuse, however, longer acting  
9       extended-release medicines, even generic, have become  
10      prohibitively expensive and are frequently denied by  
11      insurance plans. As a result, more short-acting forms  
12      are being used for economic reasons.

13              Young adults don't have 12-hour days. They  
14      need short-acting doses of their medications in the  
15      evenings for driving, for work, or for study.  
16      Long-acting medicines used during the day simply do not  
17      last into the late evening. Adderall or other  
18      short-acting amphetamines are more likely to be misused  
19      by adolescents than adults. A formulation that is  
20      resistant to manipulation for non-oral use would be a  
21      great way to reduce dangerous snorting or IV use.

22              Those of us who see ADHD treated adequately

1 with stimulants can attest to the great improvement in  
2 quality of life of those treated. They drive better,  
3 they stay in school longer, they stay out of the  
4 criminal justice system, and they become much more  
5 productive adults. They have a much lower incidence of  
6 lifetime substance-use disorders. Please help us to  
7 prevent dangerous misuse to allow the patients who  
8 really need their medications to overcome their ADHD  
9 difficulties with appropriate and cost-effective  
10 treatment. I thank you.

11 DR. NARENDRAN: Thank you.

12 Speaker number 8?

13 DR. WOLFE: Can you hear me?

14 This is Sidney Wolfe. I am the physician from  
15 the Public Citizen's Health Research Group. I have no  
16 financial conflicts of interest. Next slide, please.

17 The purpose of this slide is to show that ADF  
18 opioid prescriptions are a tiny fraction, 1.8 percent  
19 as of last year, only 2.7 million out of 154 million  
20 prescriptions, and recently, after 10 years on the  
21 market, there is no meaningful evidence that there's a  
22 reduced overall abuse.

1           Next slide, and then the one after that also.  
2           One more slide to summarize what's on the previous one.  
3           Yes.

4           This is from the recently released 2019 data  
5           from NSDUH, and we're looking at comparison in 18 to  
6           25 year olds, the group that everyone has agreed is  
7           certainly a major target of prescription stimulant  
8           misuse. What you can see is that between 2017 and  
9           2019, there were large statistically significant  
10          decreases in misuse of not only stimulant misuses but  
11          also opioid misuses. Again, there is no opioid- or  
12          stimulant-deterrent product that really has been shown  
13          to have an effect. This is due to other kinds of  
14          things such as the things that some of the previous  
15          speakers have described.

16          The next slide is the FDA summary verbatim.  
17          You've heard this a bunch of times, but I think it gets  
18          to the point. What you've been hearing is that there  
19          is manipulation resistance, and that certainly would  
20          be, if it were true, something to be hoped for, but it  
21          doesn't look like it's true. In vitro manipulation  
22          studies demonstrated that it is feasible to obtain a

1 solution for injection containing a reinforcing dose of  
2 amphetamine under the conditions reported by the  
3 applicant, and the intranasal human abuse potential  
4 study does not provide convincing evidence that the  
5 formulation AR19 has significant abuse-deterrent  
6 effects as compared to amphetamine sulfate when  
7 administered by the intranasal route.

8 So this is really the discussion that's going  
9 to happen in an hour or so and some of the questions,  
10 and I just want to review what we have seen and, in a  
11 sense, some of the things we've just heard. The only  
12 way the committee could vote, based on the evidence,  
13 that the benefits outweigh the risks is to disregard  
14 FDA's conclusion that AR19 is not expected to reduce  
15 injection and intranasal abuse.

16 The second one really is an issue of whether  
17 there would be, if it were approved, a dangerously  
18 false sense of security. I think what we've heard from  
19 some of these people who are sincerely involved in  
20 taking care of patients, in some cases doing studies,  
21 is they seem to equate manipulation resistance with  
22 what this product would deliver even though their

1 evidence is not very good.

2 The situation is painfully reminiscent of the  
3 Opana ER tragedy in which a reformulated version of  
4 Opana ER was approved in 2011, but eventually a ban was  
5 purported to have physical chemical properties expected  
6 by the company to deter abuse by intranasal and  
7 intravenous routes.

8 This new version was shown too late and caused  
9 serious injection harms, hepatitis and HIV, in many  
10 people despite the pre-approval in vitro evidence,  
11 similar to what you've seen today; that it can still be  
12 redacted, the detail of the method, cut, and become  
13 readily abusable by ingestion and intravenous  
14 injection, and even possibly still by insufflation. So  
15 you had evidence before this, and yet it was approved.  
16 What happened?

17 The major difference between what we're  
18 looking at today and Opana ER really has to do with a  
19 couple things; one, the National Academies report that  
20 came out showing that there was not a sufficient focus  
21 by the FDA on public health. There was supposed to be  
22 an advisory committee on this drug, Opana ER, and it

1 was canceled -- I was actually on the Drug Abuse  
2 Advisory Committee at that time -- because in the words  
3 of the head of this part of the FDA, "There were no  
4 unusual concerns regarding efficacy or safety of a  
5 reformulated opioid."

6 Your committees have seen the evidence and  
7 will hopefully provide support for the analyses the FDA  
8 has provided. I'm sure that if you had seen the data  
9 back then, Opana ER would never have been approved.  
10 Thank you very much.

11 DR. NARENDRAN: Thank you.

12 Speaker number 8? I'm sorry. Speaker  
13 number 9?

14 DR. ANTSHEL: Hi. I'm speaker number 9. My  
15 name is Kevin Antshel, and I'm a professor of  
16 psychology and the director of the clinical psychology  
17 doctoral program at Syracuse University. For the past  
18 25 years, I've been actively involved in ADHD clinical  
19 practice and research. More recently, I have focused  
20 my clinical and research activities on college students  
21 with ADHD. I am not receiving any compensation for my  
22 testimony today.

1           There are nearly 20 million college students  
2           in the United States and roughly 16 percent of college  
3           students report engaging in nonmedical use of stimulant  
4           medication. This equates to over 3 million college  
5           students engaging in nonmedical use. The most  
6           troubling to me as a clinician is that the nonmedical  
7           use of stimulant medication occurs more frequently in  
8           college students with ADHD.

9           In addition to the sheer volume of nonmedical  
10          users, both with and without ADHD, nearly all of the  
11          research on nonmedical use of stimulant medication has  
12          found that nonmedical use is associated with lower  
13          academic performance and worse academic outcomes. Thus  
14          in this case, college students' perceptions of ADHD  
15          stimulant medications clearly do not match the reality  
16          associated with these outcomes.

17          Research, as well as my own personal  
18          experience, indicates that college students see little  
19          potential risk, yet high potential benefit to engaging  
20          in nonmedical use. College students often engage in  
21          what has been termed "compare and contrast." College  
22          students compare and contrast ADHD stimulants with,

1 quote, "party drugs," end quote, such as cocaine,  
2 methamphetamine, and Ecstasy. The college students  
3 create an artificial dichotomy in their own minds  
4 between the good prescription stimulants and these bad  
5 illicit substances.

6 Stimulants are also viewed as coming from the  
7 medical establishment and having no internal or  
8 physical side effects. This unfortunately is  
9 contrasted with the well-publicized negative side  
10 effects of street narcotics such as brain damage,  
11 addiction, death, crime, et cetera.

12 College students also believe that they are  
13 engaging in nonmedical stimulant use for the, quote,  
14 "right reasons," end quote. For example, stimulants  
15 are being taken to promote a positive outcome, i.e., to  
16 get better grades and not negative outcomes, i.e., to  
17 get high. This serves to only reinforce and strengthen  
18 this good versus bad dichotomy that college students  
19 have.

20 Nonmedical use of stimulants is perceived by  
21 college students as having no external or societal side  
22 effects. Quote, "It helps me and hurts no one," end

1 quote. College students also view their nonmedical use  
2 as one of moderation. They are strategic about when  
3 and why they use it and engage in downward comparisons  
4 to substances such as alcohol that are used more  
5 excessively. And finally, college students frame  
6 stimulants as harmless, benign, and socially  
7 acceptable, anti-fatigue, and equal to socially  
8 acceptable caffeine in all its forms.

9 For all of the above reasons, college students  
10 who engage in nonmedical use of stimulants see very  
11 little risk and much potential benefit. A sizable  
12 proportion of college students who engage in nonmedical  
13 use engage in non-oral routes. This college student  
14 non-oral route of administration subgroup is  
15 unfortunately increasing in prevalence.

16 Also unfortunately, many of the stimulant  
17 medications, especially the immediate-release  
18 formulations, are easily manipulated and permit  
19 non-oral routes. Nasal insufflation or snorting is a  
20 particular common non-oral route on college campuses,  
21 both with and without ADHD. Here again, the goal of  
22 these college students is the quicker onset of the

1 effects to obtain academic performance enhancement.

2 As noted above, yet bears repeating now, the  
3 accent [indiscernible] data clearly do not support that  
4 nonmedical use of stimulant medication leads to  
5 improved outcomes. In fact, the data suggests quite  
6 the opposite. More disturbing, however, is that  
7 non-oral routes of administration are associated with  
8 troubling outcomes well beyond lower GPAs. These  
9 outcomes include acute cardiac events, increased  
10 likelihood of requiring admission to a healthcare  
11 facility, increased risk for polysubstance abuse, and  
12 dependence and increased risk for death.

13 In conclusion, stimulant medications are  
14 efficacious and help college students to manage college  
15 and they help college students with ADHD to better  
16 manage college. However, the medications carry risk,  
17 especially in college students. College students  
18 believe that stimulants are an academic steroid  
19 associated with low risk. Unfortunately, this  
20 perception is not accurate.

21 I fully support efforts to develop an abuse-  
22 deterrent formulation, particularly as it applies to

1 non-oral abuse. In my opinion, the potential public  
2 health impact of this effort is high. Thank you for  
3 allowing my testimony today.

4 DR. NARENDRAN: Thank you.

5 Speaker number 10?

6 MR. EWALD: Hello. My name is Andrew Ewald,  
7 and I am not being compensated to speak today. I'm  
8 currently 22 years old. I was first diagnosed with  
9 ADHD when I was 11 years old, and I've been taking  
10 stimulants my doctor has prescribed ever since then.  
11 This medication has helped me in many ways, such as  
12 improved focus during work and school, as well as  
13 organization of my thoughts, among many others. I  
14 believe the benefits of stimulants outweigh the  
15 negatives, but I have seen with my own eyes how  
16 stimulants can be misused in high schools and  
17 especially colleges, both orally and when they're  
18 snorted.

19 I am sharing my story about stimulant use  
20 today because I believe many people are in need of this  
21 type of medicine, but may not receive it due to doctors  
22 being concerned about prescribing it. To understand

1       how they're being misused, you must understand the way  
2       kids think about stimulants.

3               Stimulants are part of the equation of  
4       success, especially in college. If you want to get  
5       ahead, you take a stimulant, and because no one you  
6       know has died or had a bad experience with the drug,  
7       you think it is okay to share with friends or get it  
8       from others. Sometimes to get that extra bump for an  
9       exam or term paper, you start snorting it. Snorting it  
10      is an easy and common way people will share their  
11      stimulants, especially in a party environment, so they  
12      can keep partying longer.

13             When stimulants are not used for studying,  
14      they are typically used to drink and party. This  
15      becomes even more dangerous because when taking a  
16      stimulant and then drinking, you feel like you can  
17      drink forever. Another reason people will snort their  
18      stimulants is so they can make the pill last longer for  
19      more intense use.

20             I personally knew someone who decided to snort  
21      a 30 XR Adderall before going out to the bars. That  
22      type of Adderall is in a capsule with beads, and he did

1 not crush the beads up before snorting them. I  
2 remember talking to him a few days later, and he  
3 mentioned how he had felt some of the beads still in  
4 his nose and how awful it felt. But again, because no  
5 one you know has gotten hurt and the pill comes in a  
6 bottle that your parents got from your doctor, what is  
7 so bad about that?

8 Having been lucky enough to intern for ADHD  
9 specialist, Kristin Seymour, I have been able to use my  
10 own personal experience with ADHD and stimulant drugs,  
11 as well as what I've observed with friends and others  
12 on how people treat and view ADHD and stimulants. What  
13 I've learned the most about stimulants is that people  
14 do not view them with any sort of danger. Stimulants  
15 are always seen as an advantage.

16 I've also seen how people start to believe  
17 they cannot get something done if they don't have a  
18 pill to pop before. If someone has a paper to write,  
19 they will casually say, "Oh, yeah. I'll pop an Addy  
20 and finish it really fast." It is treated as a  
21 superpower, not a prescribed drug. It is a dangerous  
22 game that is being played far too often.

1           Again, I believe stimulants can really help  
2 people with ADHD, especially in college. Having  
3 something like AR19 that could reduce the risk of kids  
4 abusing stimulants is an important step to making them  
5 safer. Thank you.

6           DR. NARENDRAN: Speaker number 11?

7           DR. SEYMOUR: I am Dr. Meg Seymour, a senior  
8 fellow at the Center. Our center analyzes scientific  
9 and medical data to provide objective health  
10 information to patients, health professionals, and  
11 policymakers. We do not accept funding from drug or  
12 medical device companies, so I have no conflicts of  
13 interest.

14           Our center strongly supports research and  
15 programs to improve the safety and appropriate use of  
16 stimulants. All drugs that the FDA considers for  
17 approval should be held to a high standard of safety  
18 and effectiveness, but the standard for drugs with a  
19 high abuse potential needs to be even higher. We all  
20 know that ADHD medications are widely misused, and we  
21 share many of the concerns expressed by FDA scientists  
22 about this application.

1           Since there are currently no established  
2 pathway for developing abuse-deterrent stimulants, the  
3 applicant has applied abuse-deterrent guidance for  
4 prescription opioids. However, the abuse-deterrent  
5 definition of opioids has been widely criticized since  
6 the term has been applied to opioids that are difficult  
7 to crush but not so difficult to abuse. If an ADHD  
8 drug is merely difficult to smoke or inject, it should  
9 be labeled as such, not as abuse deterrent. "Abuse  
10 deterrent" is a misleading term.

11           Research shows that patients, family members,  
12 and healthcare providers often misunderstand the  
13 meaning, thinking it means less addictive. FDA should  
14 not make the mistake of using such a widely  
15 misunderstood term again, especially since the approval  
16 of the stimulant as an abuse deterrent will set a  
17 precedent for approving future stimulants.

18           In addition to the problems with the term  
19 "abuse deterrent," the research presented does not even  
20 demonstrate that AR19 adequately deters intranasal or  
21 intravenous nonmedical use or abuse. The sample in the  
22 intranasal abuse study is strikingly small, only about

1 40 patients, and makes any claim of deterrence even  
2 more questionable. We agree with the FDA's scientific  
3 assessments that the drug could actually lead to an  
4 increase in nonmedical use and abuse, given the high  
5 dose per capsule. Even worse, there is no assessment  
6 of how to deter oral abuse, which is the most common  
7 method for abusing stimulants.

8 We also share the FDA scientists' concerns  
9 over the risk of nonmedical via IV. Talc has been  
10 shown to be contaminated with asbestos in previous  
11 research and there are also unidentified impurities  
12 present in the syringeable material. Both of these  
13 pose serious health risks to those engaging in IV  
14 nonmedical use of the drug. The applicant's claims of  
15 safety do not account for high talc exposures, from  
16 high exposure to AR19, or to accumulation from  
17 continued use.

18 It is past time for the FDA to retire the term  
19 "abuse deterrent" for opioids, and it could be a  
20 disaster to using it for stimulants. We respectfully  
21 urge you to listen to the concerns expressed by FDA  
22 scientists, who point out that AR19 has no properties

1 to mitigate the most common form of abuse. Instead,  
2 the drug should be labeled with an accurate description  
3 of its properties, not as abuse deterrent, so that  
4 patients, family members, and healthcare providers do  
5 not erroneously assume the drug is less addictive.

6 Thank you.

7 DR. NARENDRAN: Thank you.

8 This concludes the open public hearing portion  
9 of this meeting and we will no longer take comments  
10 from the audience. The committee is expected to now  
11 turn its attention to the task at hand, however, I've  
12 been told that Arbor, the sponsor, would like an  
13 opportunity to discuss a couple of points that were  
14 raised by the agency.

15 So I've decided to give Arbor maybe five  
16 minutes to address these comments, and I wanted the  
17 agency to have another chance to rebut whatever these  
18 new comments are. I'm hoping that there will not be  
19 any questions on this new information.

20 With that, I'll turn it over to the sponsor.

21 DR. SCULLIN: Thank you. This is Evan Scullin  
22 at Arbor Pharmaceuticals. In a moment, I would like to

1 respond to FDA's introduction of new information today,  
2 but first I will ask Dr. Beatrice Setnik to provide a  
3 clarification on the prespecified statistical analyses  
4 for the human abuse potential study.

5 Dr. Setnik?

6 DR. SETNIK: Thank you. This is Beatrice  
7 Setnik. To clarify, I'm projecting a table, and I  
8 wanted to simply outline that the margins shown by the  
9 red dotted lines in this table do not reflect the mean  
10 differences. To clarify, the 10 percent margin or the  
11 15-point margin refer to the lower confidence bounds  
12 that you see in this table.

13 For the placebo adjusted difference and drug  
14 liking Emax, the mean difference for AR19 relative to  
15 API is actually about 45 percent. As you can see, the  
16 prespecified analyses for Emax were very near  
17 significance, where the lower confidence bound fits at  
18 or near the margins as indicated by this red line. But  
19 the important point is that this is the first stimulant  
20 in this class, and a 15-point validation margin and a  
21 10 percent abuse-deterrent margin are not based on data  
22 or clinical relevance as it applies to amphetamines

1 per se.

2 This is why we think we have to consider the  
3 totality of the data and the pattern of the results  
4 overall. In terms of the clinical significance, AR19  
5 is difficult to manipulate and less rewarding overall  
6 even when optimally manipulated. Thank you.

7 DR. SCULLIN: Thank you, Dr. Setnik.

8 We would like to clarify that Arbor did not  
9 have access to the FDA presentation until it was posted  
10 two days ago for the public meeting. Once we did,  
11 Arbor sent the FDA factual corrections related to their  
12 presentation. The FDA did not address all of these.  
13 FDA only corrected the error that was one that we  
14 submitted that was not in Arbor's favor. The FDA  
15 agreed that pretreatment did not impact particle size  
16 reduction in their briefing document. Our results  
17 agree with that. However, the agency has introduced  
18 new information contrary to this. We are committed to  
19 showing the factual results.

20 In addition, it is very surprising that the  
21 FDA has introduced new information in this forum that  
22 is in direct conflict with the conclusions from their

1 briefing document and presentation without any data  
2 that the committee can examine. Their new information  
3 suggests that multiple capsule extraction is possible.

4 Confusion was also introduced by the FDA  
5 around the manipulation resistance of lower dose  
6 strengths, which were thoroughly evaluated in our  
7 program and submitted in our NDA. Our data showed that  
8 standard IV methods were not possible. Laboratory  
9 methods were required to achieve a low dose of IV  
10 amphetamine. Multiple capsule extraction was not  
11 possible with or without pretreatment.

12 We cannot comment on this new information that  
13 FDA has brought up today. We don't know what FDA did  
14 or what the relevance is to real-world use. We're  
15 happy to assist the committees to provide any  
16 clarification needed in relation to data from our  
17 program. Thank you.

18 DR. NARENDRAN: Thank you.

19 I'd like the agency to maybe take a few  
20 minutes, if you desire to address this for the  
21 committee. Thank you.

22 DR. FARCHIONE: I can start. This is Tiffany

1 Farchione. Actually, we did debate whether or not to  
2 present the new information given that we did just  
3 receive it from our labs and that it conflicted with  
4 findings presented in the briefing document. This is,  
5 obviously, new information to us as well, and it's  
6 something that we're going to have to consider in our  
7 review. But given that the results were different, we  
8 felt that it would be important to at least mention it  
9 in the presentation.

10 I don't think that this is something that -- I  
11 think as part of the totality of information that we  
12 are reviewing today, it's just one more piece in the  
13 very complicated picture, but we did feel that it was  
14 important to mention. And just to note that, again, it  
15 will be a review issue as we dig into those results a  
16 little bit further.

17 I'm not sure if maybe our chemistry team wants  
18 to comment further on that.

19 DR. PONTA: Hi. This is Andrei Ponta, CNC.  
20 Like Dr. Farchione just said, this is information for  
21 the applicant, but I just would like to bring the focus  
22 back to the results that are not under debate. What

1 the applicant showed is that without pretreatment, up  
2 to 76 percent of the AR19 product could be reduced to  
3 particles less than 500 microns using a common  
4 household tool. And again, two other tools were able  
5 to reduce close to 50 percent of the product particles  
6 less than 500 microns.

7 With respect to the extractability and  
8 syringeability, up to 50 percent or 20 milligrams of  
9 amphetamine sulfate was extracted from AR19 capsules  
10 after pretreatment. This is likely to hold true for  
11 the 30 milligrams strength; 50 percent or 15 milligrams  
12 of amphetamine sulfate is expected to be extracted  
13 under the same conditions. This is all data provided  
14 by the applicant, which has not been debated. I would  
15 just like to make sure that it was said.

16 DR. CHIAPPERINO: Hi. This is Dominic  
17 Chiapperino, CSS. I just want to ask Dr. Bansil to  
18 respond to Dr. Setnik's comment.

19 DR. BANSIL: This is Shalini Bansil from the  
20 controlled substance staff. I'm a medical officer. I  
21 want to respond to the relevance of the validation part  
22 of the human abuse potential study that was conducted

1 by the sponsor.

2 Validation is a key part of assessing how  
3 valuable a human abuse potential study is, and the  
4 margin of 15 that was being debated is really not a  
5 matter of debate. It was mentioned in the prespecified  
6 analysis by the sponsor. A margin of 15 points for  
7 validation is typically used in all HAP studies,  
8 whether they're abuse-deterrent studies or new  
9 molecular entities, comparing with all kinds of  
10 positive controls, and they are very focused on that  
11 margin to evaluate whether a study is valid. Thank  
12 you.

13 DR. DANG: Hi. This is Qianyu from biostat,  
14 also FDA. I just wanted to say that the study and the  
15 completer population failed the validation test. It is  
16 a closed testing procedure. Once you fail the  
17 validation test, you've used all your alpha, so from  
18 that point, any more analysis are all ad hoc. So in  
19 that point, the more tests on the completer population  
20 with a p-value of 0.026 are also part of the ad hoc  
21 analysis because you don't have any alpha to do more  
22 tests. Thank you.

1 DR. NARENDRAN: Are there any further comments  
2 from the agency?

3 DR. FARCHIONE: No. Thank you.

4 DR. NARENDRAN: Okay.

5 Dr. Shalini, do you have a comment?

6 DR. BANSIL: No, sorry. I already spoke.

7 Thank you so much.

8 DR. NARENDRAN: Okay. Thank you.

9 DR. BONNER: Dr. Shalini, can you please lower  
10 your hand?

11 DR. BANSIL Yes. Sorry about that.

12 **Questions to the Committee and Discussion**

13 DR. NARENDRAN: So I guess we would proceed to  
14 our next section, which is we will proceed with the  
15 questions to the committee and committee discussion.

16 I'd like to remind the public observers that  
17 while this meeting is open for public observation,  
18 public attendees may not participate except at the  
19 specific request of the panel. After I read each  
20 question, we will pause for any questions or comments  
21 concerning its wording, then we will open the question  
22 for discussion.

1 I'll read question number 1. Considering the  
2 patterns of prescription stimulant nonmedical use in  
3 the United States, please discuss the potential public  
4 health impact of prescription stimulants formulated to  
5 be abuse deterrent.

6 Are there any questions about the wording of  
7 the question from the panel members? Dr. McGough?

8 DR. MCGOUGH: Yes, thank you. Jim McGough.  
9 My sense is, first of all, the proposed product does  
10 nothing for the great proportion of nonmedical use of  
11 the stimulant, which is basically oral. I think the  
12 problem of non-oral use is really in a small group of  
13 individuals who have multiple risk factors for bad  
14 outcomes or multiple risk factors at least associated  
15 with bad outcomes that go beyond their simple nasal use  
16 of the medication.

17 Additionally, I think, at best, I see that  
18 there's perhaps a marginal benefit with this product  
19 compared to other products. So in terms of the overall  
20 totality of the problem of stimulant nonmedical use, I  
21 see very little impact on the problem.

22 One other aspect that was alluded to in the

1 briefing documents but hasn't at all been discussed  
2 here, racemic amphetamine, which in its former life was  
3 known as benzedrine, was the first medication  
4 serendipitously found to be helpful for ADHD back in  
5 1937, but over the ensuing decades, L-isomer was  
6 removed because it was felt that it had more  
7 cardiovascular effects than cognitive effects. So  
8 basically, dexedrine and then mixed amphetamine salt,  
9 which has a small proportion of the L-isomer, came into  
10 use.

11 I have no doubt that should this be approved  
12 as a manipulation-resistant compound, there will be a  
13 push to market this as the preferred IR stimulant, and  
14 all the other IR stimulants are generic, so that's a  
15 whole other issue. But I think what would happen,  
16 then, if this succeeded in gaining market share is we  
17 would be returning to a form of amphetamine that was  
18 rejected for safety reasons 70 years ago, and I think  
19 that's part of the risk-benefit equation that we  
20 haven't really considered.

21 So I think on the potential benefit side, I  
22 think there's, at best, a marginal effect on a very

1 small aspect of the problem, but on the risk side, we  
2 risk reintroducing a compound that I think the document  
3 said is now used in 0.3 percent of prescriptions, but  
4 correct me if I'm wrong on that. We have the  
5 potential, though, to making this a dominant force in  
6 the marketplace with unknown safety risks, so I don't  
7 see that this solves any problem, and it certainly  
8 doesn't meet a standard of benefit over risk.

9 DR. NARENDRAN: Dr. McGough, sorry, but I  
10 think we were more concerned about whether there's any  
11 questions about the question.

12 DR. MCGOUGH: Oh, gosh. I'm sorry. Well, you  
13 heard my discussion.

14 (Laughter.)

15 DR. NARENDRAN: I think you definitely started  
16 the discussion.

17 DR. MCGOUGH: That was all I had to say about  
18 this, so I will clam up right now. Thank you.

19 DR. NARENDRAN: Before we open it up for  
20 discussion, are there any questions about the question  
21 that is unclear?

22 I'm assuming, Dr. Meisel, you have something

1 about the question.

2 DR. MEISEL: Yes. Thanks, Rajesh.

3 Steve Meisel with M Health Fairview in  
4 Minneapolis. We're using the term here "abuse  
5 deterrent," but the applicant is not asking for a label  
6 of abuse deterrent; they're using the term  
7 "manipulation resistant." We talked about this a  
8 little bit earlier today.

9 I think it's important for us to just clarify  
10 what we mean by the phrasing of this question here.  
11 Are we really talking about something that's abuse  
12 deterrent or are we talking about something that is  
13 manipulation resistant? And if it's manipulation  
14 resistant, what's the definition of that? Because I  
15 don't think we have a -- it sounds good, but it's like  
16 a lot of the stuff you see in the supermarkets with all  
17 sorts of claims that have no scientific or standard  
18 definitions.

19 So I'd like for the agency, before we move  
20 into the discussion here, to help us ground in what we  
21 mean by the term "abuse deterrence" and whether we  
22 should use that synonymously with manipulation

1 resistance.

2 DR. NARENDRAN: Dr. Farchione, the agency?

3 DR. FARCHIONE: Yes. This is Tiffany  
4 Farchione, and I can take a first stab at that; then if  
5 Dr. Staffa wants to follow up if I miss any important  
6 points, we can do that. But essentially, we obviously  
7 have not yet reviewed or endorsed the term  
8 "manipulation resistant."

9 When these options were brought up during the  
10 review cycle, during development, we talked about the  
11 methods that they used to reach this proposed  
12 terminology. The agency is currently engaged in  
13 actually looking at alternative terminology that would  
14 be more precise and more understandable, but that  
15 research is ongoing.

16 I think that for the purposes of this  
17 discussion, we should defer comments on potential  
18 labeling language, whether it would be abuse deterrent,  
19 or manipulation resistant, or whatever, and just focus  
20 on exactly what it is that are the properties of the  
21 product itself and whether or not you can derive an  
22 insufflatable product or whether you can derive

1 something that can be used intravenously. The end  
2 result that we're talking about is the same, but the  
3 terminology that we're using versus what they're using  
4 may be different. It's a matter of semantics.

5 DR. MEISEL: Okay. Thanks.

6 DR. NARENDRAN: Dr. Shalini Bansil for the  
7 agency?

8 DR. BANSIL: Yes?

9 DR. FARCHIONE: You might still have your hand  
10 raised, Shalini.

11 DR. BANSIL: Oh, I thought I put it down. I'm  
12 sorry. Just ignore it because still I'm having  
13 problems with my computer maybe.

14 DR. NARENDRAN: Okay. Thank you.

15 DR. BANSIL: Is it down now?

16 (No response.)

17 DR. NARENDRAN: So is there any other  
18 questions from the members about the question? It  
19 sounds pretty clear that this question is mostly asking  
20 whether this is any -- considering the patterns of  
21 prescription stimulant nonmedical use in the United  
22 States, please discuss the potential public health

1 impact of prescription stimulants formulated to be  
2 abuse deterrent.

3 So we're not talking about the specific  
4 product at this point; we're just talking about whether  
5 there's any public health impact in having an abuse-  
6 deterrent formulation stimulant.

7 Is that correct? That's like an open question  
8 at this point, correct?

9 DR. STAFFA: This is Judy Staffa from FDA.  
10 Yes, I think that's the way to interpret this question.  
11 This is not specific to this product. This is the more  
12 general concept. So if you think about it as the  
13 potential public health impact of prescription  
14 stimulants formulated to deter non-oral abuse, maybe  
15 that's the way to think about that and to have that  
16 discussion.

17 DR. NARENDRAN: Perfect.

18 So I would like the panel to -- that  
19 crystallizes it nicely. So in general, is there a  
20 large public health impact in having an abuse-deterrent  
21 stimulant? I guess that clarifies my question about  
22 the question.

1           Any other concerns about the question? If  
2 not, then I would suggest you raise your hand, and then  
3 we could call upon people to give their thoughts one at  
4 a time.

5           Does anybody want to go first? Dr. Hernandez?

6           DR. HERNANDEZ-DIAZ: Hi. Sonia  
7 Hernandez-Diaz. I can go first. First, I agree with  
8 the applicant and the FDA on the change of a term to  
9 something like manipulation, deterrence, and so forth,  
10 so I think that's a great discussion, and I  
11 congratulate the FDA for contemplating that.

12           I think the company is presenting a product  
13 that does what they say, though the benefits might have  
14 been exaggerated in the presentation. But whether it  
15 is 25 to 75 percent harder to abuse via non-oral  
16 routes, I think that it will probably deter the abuse  
17 through these routes. If the point is often to get  
18 faster effects, then what would be the point of  
19 spending 20 to 60 minutes manipulating when there are  
20 other pills that are available? And I'm focusing more  
21 on the most likely size of the effects than about  
22 crossing an arbitrary p-value cutoff point with a

1 sample of 33 subjects.

2           However, a few pieces of evidence makes me  
3 think there could be limited public health impact for  
4 this formulation based on just approving this  
5 formulation alone because it has been said oral misuse  
6 is the most common route.

7           We were presented estimates from 6 percent to  
8 up to 20-30 percent of snorting use and little for  
9 other routes and because non-oral misuse often starts  
10 with oral misuse. If non-oral use is more difficult  
11 for this product, patients will use it orally, given  
12 their oral availability, or will get prescriptions for  
13 other amphetamines and other similar products, as we  
14 have seen for opioids if the price is lower for other  
15 products more so.

16           So from a public health point of view, this  
17 approach will make sense if manipulation resistance is  
18 really working very well and the only available  
19 amphetamine type.

20           Finally, I think that because it's going to be  
21 indicated for high-risk patients, the pills will get  
22 still to college kids for concentration and for

1 partying through all the regular indications. So I  
2 think to prevent the epidemic of amphetamines used and  
3 abused, as we learned from opioids, we have to start  
4 with reducing prescriptions to patients with a clear  
5 indication because it seems like a high proportion of  
6 pills end up with friends for nonmedical use, so  
7 diversion is way too common, and opioids are the same.

8 So yes, I think this formulation may be a step  
9 in the right direction. I think it's the last line of  
10 defense and not the first step and that plan A for  
11 regulatory agencies and public health agencies is, I  
12 think, to put the brake on the epidemic before we are  
13 dealing with one of the opioid dimensions ten years  
14 from now, acknowledging that these are not opioids, and  
15 there are many bad things about opioids.

16 So I think the label of all amphetamines,  
17 including this formulation, is to clearly indicate the  
18 risk of abuse and death for the patients and their  
19 parents. If, really, 45 percent of non-oral use is  
20 being -- used through the non-oral route, I mean, this  
21 is alarming. And if we really were to believe that we  
22 are close to 45 percent, maybe things will not be

1 mitigated without supervision.

2           Finally, public health officials should look  
3 at the prescribers, and some of them are around this  
4 online table -- making friends here -- and other  
5 institutions that are in the position to help for  
6 relief. We are thinking public health actions -- for  
7 example, closer supervision and education to patients  
8 and their families that do need the treatment and maybe  
9 mandatory screening at colleges around exams to  
10 incentivize the youth to improve performance -- because  
11 I think once some kids use it, it's unfair for others,  
12 and they are going to start using it because it's  
13 perceived as safe.

14           If we could promote this message that doping  
15 is cheating and that selling drugs is a felony that can  
16 kill a friend, as we have heard today, I think that  
17 would be more useful from a public health point of view  
18 than changing the formulation. Thank you.

19           DR. NARENDRAN: Thank you, Dr. Hernandez.

20           Dr. Baker?

21           DR. BAKER: Thank you, Dr. Narendran.

22           I think one of the things you'd expect in my

1 role coming from industry is to raise points that might  
2 be more broadly applicable to other parts of the  
3 industry beyond the sponsor, and one of those I think  
4 came up a couple times in the agency's briefing  
5 document. It was a concern that successfully deterring  
6 abuse might channel people to more dangerous routes  
7 such as illicit stimulants.

8 I think the agency was raising that more as a  
9 question than as a showstopper because at its extreme,  
10 it would mean if we had a fully manipulation-resistant  
11 product, it actually wouldn't be approvable or might  
12 have questions about its approvability because of that  
13 concern of making the public health impact worse. I  
14 doubt that that's where we are, but if that is the  
15 spirit of the committee, it would be really useful to  
16 hear that for other companies that might be thinking  
17 about trying to pursue these kinds of formulations.  
18 Thank you for the time.

19 DR. NARENDRAN: I'm trying to see if there's  
20 anybody else. Because there's nobody else, I'm going  
21 to fill in. I kind of would like to echo Dr. Sonia  
22 Hernandez's comments.

1 I'm not really sure an abuse-deterrent  
2 formulation really provides the kind of deterrent. I  
3 think the goal should be, really, to reduce the number  
4 of scripts. My concern with an abuse-deterrent  
5 formulation or providing a manipulation-resistant  
6 formulation is that the people this is going to be  
7 prescribed is going to be higher risk individuals, like  
8 people with polysubstance abuse.

9 Although it is a good thing that the company  
10 wanted to target it towards college kids, it may end up  
11 being prescribed to people in prisons or people with  
12 polydrug abuse. It may end up having the unintended  
13 impact that people think, oh, this is abuse deterrent  
14 or manipulation resistant; I can write it for my  
15 substance abusers who use cocaine and stuff, and then  
16 it could end up with a lot of illicit substances being  
17 mixed with it and cause more harm.

18 So I think it was a little bit evident with  
19 OxyContin and how it was prescribed, like widely. An  
20 abuse-deterrent formulation in itself to address a  
21 couple of routes that are not really the primary route  
22 used, of abuse or misuse, would be more concern I would

1 think. So I'm not really completely sold on the  
2 concept of this being very helpful.

3 I'm looking for raised hands. Ms. Witczak?

4 MS. WITCZAK: Yes. Kim Witczak, consumer rep.  
5 In theory, it sounds like a great idea to have  
6 something that would -- but I think it's going to have  
7 limited public health impact. Once again, it always  
8 seems like we're trying to solve a bigger societal  
9 problem with another medication solution. From a  
10 company point of view and going in and marketing for  
11 doctors and all of that, it seems like, sure, if you're  
12 able to sell it, maybe this would be the prescription,  
13 but at the end of the day, if there's a will, there's a  
14 way.

15 I still think it's not going to probably make  
16 the -- in concept, I don't think it's going to make the  
17 big dent like we were hoping it did for the opioids,  
18 and I think the same with the stimulants. It seems  
19 like most of it is on the oral, and this I don't think  
20 is really going to impact the bigger problem that we  
21 have with stimulant abuse.

22 DR. NARENDRAN: Dr. McGough?

1 DR. MCGOUGH: I'm sorry. I don't think my  
2 hand was raised again. But the comments I raised  
3 before, inappropriately, are sustained. I agree. I  
4 see minimal benefit from a public health perspective  
5 and maybe some more risks.

6 DR. NARENDRAN: Dr. Meisel?

7 DR. MEISEL: Steve Meisel with Fairview,  
8 Minneapolis. I'll take a slightly counter-review. If  
9 you're thinking about the casual user, the person who  
10 for the first time doesn't know quite what they're  
11 doing, and they try to crush something up to snort it  
12 and so on, you make it a little bit harder and you  
13 might deter somebody, from that point of view, from  
14 that first experience there. So there may be some  
15 value in that really narrow population.

16 But if you're around other people and you're  
17 doing this, I can guarantee you, within a month of a  
18 product like this thing on the market, there will be a  
19 bajillion websites where people can go look and find  
20 out how to do this in a way that it's going to work, so  
21 it would be short-lived. But if you're one of those  
22 really casual folks and you're not around those kinds

1 of groups and fraternities, or whatever, it might have  
2 some impact at the margins of somebody going ahead and  
3 snorting this stuff.

4 DR. NARENDRAN: Thank you, Dr. Meisel.

5 Dr. Marshall?

6 DR. MARSHALL: Yes. Brandon Marshall, Brown  
7 School of Public Health. I agree with Dr. Meisel. I  
8 think of this almost as like an incident versus  
9 prevalent case issue where there may be some benefit  
10 for someone newly being prescribed this medication that  
11 might be just difficult for them to start crushing, so  
12 perhaps that prevents that progression. That's why I  
13 asked about that restriction to people newly being  
14 prescribed this medication.

15 But we also have this huge prevalent pool of  
16 people who are already nonmedically using these  
17 substances, so in that population there could be all  
18 sorts of adverse consequences, shifts to other illicit  
19 drugs, and switching to increased oral dosing. I guess  
20 I had a question maybe from my clinical colleagues on  
21 what some of the adverse side effects are of just  
22 consuming higher doses orally versus snorting a lower

1 dose.

2 I agree. I might see some marginal benefit in  
3 an infinite group of patients perhaps more than offset  
4 by harms in people who are currently nonmedically using  
5 these sort of prescriptions.

6 DR. NARENDRAN: Thank you.

7 Dr. Green, you're next.

8 DR. GREEN: Thank you. Traci Green from  
9 Brandeis. I agree with the previous two speakers,  
10 especially with considering the potential health impact  
11 of prescription stimulants and an abuse-deterrent  
12 formulation as a design intervention and as a public  
13 health pathway for preventing further harm.

14 One of the many lessons we've taken from the  
15 ADF guidance and the different formulations that have  
16 developed and have been approved, and many not marketed  
17 through this pathway, is really thinking about those  
18 unintended consequences. It's very hard to set side by  
19 side the prescription opioid epidemic and the  
20 prescription stimulant products and the nonmedical use  
21 epidemic that we're describing here. They're quite  
22 different. Their pathways, their initiation, their

1       cessation patterns, their trajectories over time, and  
2       the burden of death are completely different, and I  
3       think it may behoove us as a country to think about  
4       different guidance in these instances rather than  
5       applying one to the other.

6               If we could do that in a thoughtful way, and  
7       if there were some guidance from FDA in this area to  
8       perhaps take the moment to consider what are those  
9       unintended consequences and perhaps more specifically  
10       thinking about the routes of administration that  
11       changed -- smoking, snorting, and multiple-dose  
12       episodes -- things that we've learned from watching  
13       what happened with Opana, to fail to learn from these  
14       experiences is truly the tragedy.

15               So an emphasis perhaps on better and different  
16       guidance to help ADFs should be developed in the future  
17       for prescription stimulants that are more appropriate  
18       for stimulant medications and the stimulant problem  
19       that we've been exploring, and emphasizing perhaps also  
20       the opportunity to think about better prescribing,  
21       prescribing efforts that are focused on prescription  
22       stimulants.

1           We heard clinical presentations and practices  
2           from the public testimony of, really, thoughtful  
3           clinicians who've taken the time of patients who are  
4           very communicative, who think about supervision and  
5           monitoring, and talking and involving parents and  
6           families in safer use. This has been something we've  
7           learned from prescription opioids as a really important  
8           component to successfully reducing misuse and  
9           preventing overdose. This is probably the time to  
10          think about applying that to prescription stimulants as  
11          well, not just ADF design modifications.

12           DR. NARENDRAN: Thank you.

13           Dr. Boudreau, did you have a comment?

14           (No response.)

15           DR. NARENDRAN: If you don't have a comment,  
16          please --

17           DR. BOUDREAU: Sorry. I was muted. Denise  
18          Boudreau. I had lowered my hand just because some of  
19          what I was going to say was covered. But I just wanted  
20          to emphasize that I know the medications are quite  
21          different from opioids in many ways, but one of the  
22          impacts of the abuse-deterrent opioids, in addition to

1 people going to different opioids and going to illicit  
2 opioids, was also simply changing their preferred route  
3 of administration, so going from an injected and  
4 inhaled to oral route.

5 So while I commend the effort, and I think  
6 until there's probably changes in practice patterns and  
7 also having the market predominantly have abuse-  
8 deterrent formulations, I don't think that this will  
9 move the needle much in a public health impact way.

10 DR. NARENDRAN: Thank you.

11 Dr. Green, I think you lowered your hand, too.

12 Please don't forget to lower your hand if your  
13 question has been answered.

14 Any other -- my psychiatry colleagues who want  
15 to weigh in on this general question? Dr. Calis, I see  
16 your hand up there.

17 DR. CALIS: Okay. I think I just unmuted.

18 Thank you very much. I agree with the general  
19 sentiment that we've heard so far. I think that  
20 prescription stimulant abuse is a real public health  
21 problem. It's a dilemma that we should take seriously.  
22 I think that, in this case, the method the applicant

1 has developed, certainly from what we've seen so far,  
2 is not foolproof, but I think the applicant should be  
3 really congratulated for trying to move the needle  
4 forward. It represents the first step in that  
5 direction where there are no other plausible options.

6 The difficulty here is that there are a lot of  
7 limitations in the data that's provided, and I think  
8 that unless we have something that moves the needle a  
9 little bit further and really gives us more concrete  
10 evidence that this product will do what it's stated to  
11 do, I think that we can create some misimpressions  
12 about it. I think that getting the distinction of  
13 being the first abuse-deterrent stimulant, if that were  
14 to happen, I think would give many a false sense of  
15 security, and I think that would be a potential problem  
16 that we should really consider as well.

17 DR. NARENDRAN: Thank you.

18 Dr. Thomas?

19 (No response.)

20 DR. NARENDRAN: Dr. Thomas, are you there?

21 (No response.)

22 DR. NARENDRAN: Well, I'll move to

1 Dr. Zibbell, and then I'll come back to Dr. Thomas.

2 Dr. Zibbell?

3 DR. ZIBBELL: Hey. Thanks, everybody. I'll  
4 keep this short. I'm Jon Zibbell, RTI International,  
5 Emory University. I wanted to just briefly talk about  
6 the injection-related harm and some of the concerns I  
7 have. I do agree that it just seems there will be just  
8 a marginal effect given the smaller number of the  
9 population that's actually injecting stimulants. I  
10 think we still don't know the relationship between  
11 iatrogenic use and transitioning to more illicit forms.

12 One of the things that does give me concern is  
13 that it seems that you are able to manipulate the  
14 products, to insufflate them and inject them, albeit  
15 with different types of manipulations and different  
16 difficulties. One of the things that concerns me in  
17 the report was that some of the tools that were used to  
18 manipulate and get into a solution, that larger  
19 syringes were actually needed in order to inject this.

20 This is the same thing we saw with Opana, that  
21 the hydroxyethyl cellulose for the extended-release  
22 excipient caused the medication to gel, and how folks

1 overrode the gelling is they just added more water to  
2 it. So they made a bigger solution, but the problem  
3 was those bigger solutions needed bigger syringes, so  
4 bigger solutions mean they can be shared.

5 Bill Zule at RTI has shown that larger  
6 syringes, when they're shared, hold more of what's  
7 called dead space, where the needle attaches to the hub  
8 of the syringe and more liquid is left over there  
9 post-injection. So my fear is that people will figure  
10 out how to inject these, and using in a larger syringe,  
11 as we know, is a risk for HIV and hepatitis, so that  
12 really gave me a pause.

13 The second thing that gave me pause is the  
14 congealing of the substance. You can heat it up under  
15 high temperature, get it in a solution and pull it into  
16 the syringe, and then it congeals, so you can't push it  
17 out. There's evidence from the UK in the early 2000s  
18 where there was some prescription opioids that had a  
19 kind of congealing mechanism, and it really caused a  
20 lot of vein damage, where once you pull that in the  
21 syringe and it's heated, people are going to try to get  
22 it out of there before it cools or before it congeals,

1 or they inject it and it congeals when it's already in  
2 you. Of course that wouldn't be like a thrombosis with  
3 clots or anything but more of a vasculitis and other  
4 vein-related damage.

5 So both the congealing once it's already in  
6 the syringe gives me really great pause for  
7 injection-related harm, and then the larger syringes  
8 being used in order to inject the material also gave me  
9 harm with the evidence that that is related to HIV and  
10 hepatitis transmission. That's just the injection  
11 route, but I just wanted to offer those two because  
12 those are two potential public health impacts that we  
13 did not see with Opana, and that did happen with Opana,  
14 albeit there were other factors involved in that as  
15 well. Thank you.

16 DR. NARENDRAN: Thank you.

17 Dr. Calis?

18 DR. CALIS: Hi. Sorry. I just kept my hand  
19 raised. I apologize. I'll change it now.

20 DR. NARENDRAN: Thank you.

21 Dr. Nelson?

22 DR. NELSON: Thank you. Lewis Nelson from

1 Rutgers New Jersey Medical School. I just want to  
2 reiterate what I brought up earlier. This might be a  
3 different discussion if we were having an advisory  
4 committee to evaluate the first ADH med or the first  
5 amphetamine that we were going to be discussing to  
6 release on the market and the benefit of making that  
7 formulation abuse deterrent to tamper resistant or  
8 whatever we'd like to call it. But at this point, the  
9 horse is out of the barn, and I'm not sure we're really  
10 going to get much benefit from closing the barn door.

11 I do think that we need to study the history  
12 of abuse-deterrent formulations and ask if this drug  
13 class is really that different and if this population  
14 is really that different than what we've seen in the  
15 past. I tend to think there are probably some  
16 differences but, overall, substance of use that  
17 interacts with the reward system in the brain and  
18 engenders the feelings that it does, whether it's for  
19 one benefit of stimulation or another benefit of  
20 sedation, or whatever you'd like to compare the two  
21 class of drugs as, reward is reward, and I'm just  
22 concerned that we need to look back at what's happen

1 with the opioids. Thank you.

2 DR. NARENDRAN: Thank you.

3 I don't see any other hands raised. This is  
4 Raj Narendran. I think talking in terms of just not  
5 abuse-deterrent formulations but also other paradigms  
6 to kind of reduce the number of scripts and track the  
7 number of pills, I know the agency has approved  
8 medications for schizophrenia, where they can take a  
9 pill and then look it up on your iPhone and see how  
10 many pills they took or how compliant the patients have  
11 been, and they can send it to their pharmacist, or  
12 family member, or a physician.

13 So to think outside of just deterrence and  
14 just in terms of tracking might be another way to avoid  
15 harm in college kids; did they really take 30 pills or  
16 did they pass 10 to their friends at a frat party? So  
17 I think I would urge industry to think large and use  
18 other more novel methods to address this horrible  
19 problem.

20 I think that concludes our discussion for  
21 question number 1. In terms of trying to summarize,  
22 I've heard a lot of comments related to the public

1 health impact in itself might be limited. Many members  
2 seemed to voice that, and they were unclear how an  
3 abuse-deterrent formulation in itself may aid.

4           Although trying to reflect back on the  
5 Opana ER and the opioid epidemic seemed to provide some  
6 guidance of how things could play out, some people  
7 thought maybe it could have a narrow impact in reducing  
8 substance-use transition to more harmful ways in the  
9 newly prescribed group. I liked the comments where  
10 they talked about the incidence, that maybe a small  
11 incidence could be benefit, but the larger prevalence  
12 of people using amphetamine and other stimulants for  
13 misuse could be harmed by a product like this.

14           I also heard some comments that the agency has  
15 to work to maybe include other stakeholders like family  
16 members and prescribers and come up with a specific  
17 guidance, perhaps, of how an abuse-deterrent  
18 formulation should look for stimulant abuse. But  
19 overall, it sounds like a lot of people were concerned  
20 that this in itself may not be enough to change the  
21 course of a horrible addictive process.

22           DR. NARENDRAN: Does the agency feel like they

1 got enough comments on this issue? Are we ready to  
2 move on to the next question?

3 DR. FARCHIONE: This has been very helpful.  
4 Thank you. This is Tiffany Farchione.

5 DR. NARENDRAN: Thank you.

6 I'm going to hand it over to Dr. Bonner, the  
7 DFO, because question number 2 through 5 are voting  
8 questions, so she can provide us with a framework of  
9 how it's going to work.

10 Dr. Bonner?

11 DR. BONNER: Yes. Hi. This is LaToya Bonner,  
12 DFO for this AC meeting. I will go over the voting  
13 instructions to the panel.

14 Questions 2 through 5 are voting questions.  
15 Voting members will use the Adobe Connect platform to  
16 submit their vote for this meeting. After the  
17 chairperson has read the voting question into the  
18 record and all questions and discussion regarding the  
19 wording of the vote question are complete, the  
20 chairperson will announce that voting will begin.

21 If you are a voting member, you will be moved  
22 to a voting breakout room. A new display will appear

1 where you will submit your vote. There will be no  
2 discussion during the voting. You should select the  
3 radio button, that is this round circular button, in  
4 the window that corresponds to your vote; yes, no, or  
5 abstain. You should not leave the no-vote choice  
6 selected. Please note that you do not need to submit  
7 or send your vote. Again, you need only to select the  
8 radio button that corresponds to your vote. You will  
9 have the opportunity to change your vote until the vote  
10 is announced as closed.

11           Once all voting members have selected their  
12 vote, the DFO, myself, will announce that the vote is  
13 closed. Next, the vote results will be displayed on  
14 the screen. I will read the vote results from the  
15 screen into the record, then the chair person will go  
16 down the roster and each voting member will state their  
17 name and their vote into the record. You can also  
18 state the reason why you voted as you did if you  
19 choose. We will continue in the same manner until all  
20 questions have been answered or discussed.

21           Are there any questions about the voting  
22 process before we begin?

1 (No response.)

2 DR. BONNER: I will turn the meeting over to  
3 the chair.

4 DR. NARENDRAN: Question number 2. I'll read  
5 the question. Based on the information provided,  
6 including intranasal study comparing this product to  
7 amphetamine sulfate, has the applicant provided  
8 adequate evidence that the immediate-release oral  
9 formulation of amphetamine sulfate, AR19, would deter  
10 intranasal use?

11 Are there any questions about the question?  
12 Please feel free to raise your hand electronically so  
13 we can get clarification.

14 (No response.)

15 DR. NARENDRAN: I don't see any hands.

16 DR. BONNER: Neither do I.

17 DR. NARENDRAN: Okay.

18 DR. BONNER: Okay. We will now move --

19 DR. NARENDRAN: Go ahead, LaToya.

20 DR. BONNER: -- to the voting breakout room to  
21 vote only. There will be no discussion in the voting  
22 breakout room.

1 (Voting.)

2 DR. BONNER: This is LaToya Bonner again, DFO.  
3 I will speak these votes into the record. For  
4 question 2, 4 yes; 19 no; zero abstained. I will turn  
5 the meeting back over to the chair.

6 DR. NARENDRAN: Thank you.

7 We will now go down the list and have everyone  
8 who voted state their name and vote into the record.  
9 You may also provide justification for your vote if you  
10 wish to. We'll start with the first person on the  
11 list.

12 Dr. Nelson?

13 DR. NELSON: Yes. Hi. This is Lewis Nelson  
14 from Rutgers New Jersey Medical School, and I voted no.  
15 I think that the product does not meet even the weak  
16 criteria set for it, a 10 percent reduction in drug  
17 liking, which is a questionable clinical value. It's  
18 unlikely, based on what we know about the opioids and  
19 the ADF opioids, that once this formulation's marketed,  
20 it will have better than expected outcomes, and it's  
21 more likely that it will have unexpected or unpredicted  
22 effect.

1 I understand the concerns of the public  
2 speakers who really would like to see some product that  
3 has abuse-deterrent formulations for all of the right  
4 reasons, but this product seems to be of marginal  
5 value, and I don't think that the data support its  
6 beneficial effects. Thank you.

7 DR. NARENDRAN: Dr. Griffin?

8 DR. GRIFFIN: Marie Griffin. I voted no, and  
9 I focused on adequate evidence. I think there was some  
10 evidence for some barrier to use, but I don't think the  
11 evidence presented was adequate.

12 DR. NARENDRAN: Dr. Iyengar?

13 DR. IYENGAR: This is Satish Iyengar from the  
14 University of Pittsburgh. I also voted no. I'm sort  
15 of echoing what Dr. Nelson said. I, too, was very  
16 moved by the public testimony, but I just did not think  
17 that the data bore out the claim that it would deter  
18 intranasal use. Thank you.

19 DR. NARENDRAN: Dr. Green?

20 DR. GREEN: Traci Green. I voted no for the  
21 reasons previously stated. I did not see a meaningful  
22 clinical reduction. There were one too many

1 manipulations for my level of comfort to agree that  
2 there was intranasal abuse deterrence reached. I think  
3 that while there may be room for an ADF for  
4 prescription stimulants, especially for the nasal  
5 route, it did not appear that this was it. Thank you.

6 DR. NARENDRAN: Ms. Witczak?

7 MS. WITCZAK: Kim Witczak, Woodymatters,  
8 consumer rep. I voted no. I didn't feel -- when I  
9 look at the word "adequate" evidence, I think,  
10 especially if this is the first of its kind in this new  
11 class, we need to have standards that are even higher.  
12 Thank you.

13 DR. NARENDRAN: Dr. Calis?

14 DR. CALIS: Hi. This is Karim Calis from the  
15 NIH, and I voted no as well. I agree with the general  
16 sentiment so far. I don't believe that there is  
17 compelling evidence to support an intranasal abuse-  
18 deterrent claim.

19 DR. NARENDRAN: Dr. Dunn?

20 DR. W. DUNN: This is Walter Dunn from UCLA.  
21 I voted no. I interpreted the question as can this  
22 product deter intranasal use to a clinically meaningful

1 degree. When considering deterrence, I also took into  
2 account the two aspects of reward versus effort, and I  
3 think based off of the human abuse potential studies,  
4 the results of that were weakened by the failure of the  
5 validation component, and therefore not entirely clear  
6 to me if intranasal use would result in a highly  
7 rewarding use.

8 The second component was the effort. It  
9 sounds like, based off of the FDA finding, that there  
10 are some conflicting evidence about the ease of  
11 manipulation through an insufflatable form. So given  
12 the fact that it may be easier to get it through an  
13 intranasal formulation and the fact that it can still  
14 be highly rewarding, I did not believe that the sponsor  
15 was able to demonstrate that it would deter intranasal  
16 use to a clinically meaningful degree. Thank you.

17 DR. NARENDRAN: Dr. Meisel?

18 DR. MEISEL: Steve Meisel with M Health  
19 Fairview in Minneapolis. I also voted no for the  
20 reasons that Drs. Nelson and Dunn articulated very  
21 nicely. When you set up a study and you have an  
22 endpoint, and you fail to meet the endpoint, to me

1 that's pretty telling that there is not adequate  
2 evidence that it's going to do what you say it's going  
3 to do. So I think the evidence is far from adequate.  
4 Thank you.

5 DR. NARENDRAN: Dr. Posner?

6 DR. POSNER: Yes. Philip Posner. I voted  
7 yes, and that was based upon my interpretation of the  
8 question. To me, deter the use of this compound as an  
9 intranasal access, I was quite convinced that what they  
10 have done in their formulation makes it much more  
11 difficult to use this than anything else that's on the  
12 market. So I don't think it will deter people from  
13 abusing this particular type of stimulant, but I think  
14 it will deter them from using this particular  
15 stimulant.

16 I understand what the people are saying about  
17 not meeting the endpoint, but when you compare this  
18 product with everything else that's on the market, or  
19 what students or abusers or users can get elsewhere, I  
20 take Occam's razor; they're going to take the easy way  
21 out. They're not going to go through the excess work  
22 of having to empty the capsule, crush the tablets, and

1 put them in a powder form that they can snort. So I  
2 think it will deter the use of this particular  
3 compound.

4 DR. NARENDRAN: Thank you.

5 Dr. Zibbell?

6 DR. ZIBBELL: Hey. This is Jon Zibbell, RTI  
7 International. I voted no, and the key for me was  
8 based on the information provided. And based on the  
9 information provided, I just couldn't vote yes. The  
10 sponsor's evidence just does not show that it deters  
11 intranasal use. In fact, it showed there was still  
12 likeability. I just want to stress, again, it was  
13 based on the information that was provided. Thanks.

14 DR. NARENDRAN: Dr. Fiedorowicz?

15 DR. FIEDOROWICZ: Yes. I went yes, although  
16 it was a soft yes because I went back and forth on  
17 this. Like Dr. Meisel, I was concerned about not  
18 meeting the primary endpoint, but ultimately what I  
19 thought was a totality of the evidence, I ultimately  
20 went with yes. I think making it harder to snort  
21 should deter such intranasal use and found the  
22 argument, considering both input and output, to be

1 somewhat compelling, although the output evidence here  
2 was certainly marginal. Thank you.

3 DR. NARENDRAN: Dr. Jeffrey?

4 (No response.)

5 DR. JEFFREY: Dr. Jeffrey, are you there?

6 (No response.)

7 DR. NARENDRAN: Maybe I'll move forward and  
8 come back to Dr. Jeffrey.

9 Dr. Boudreau?

10 DR. BOUDREAU: Denise Boudreau from Kaiser  
11 Permanente Washington, and I voted no, based on what I  
12 saw as marginal effectiveness of an endpoint, where the  
13 clinical relevance was also questionable.

14 DR. NARENDRAN: Dr. Jeffrey, are you there?  
15 Can you unmute yourself?

16 DR. JEFFREY: Yes. Hi. I'm here. Sorry  
17 about that. I was unmuted, but I'm not sure what  
18 happened.

19 Can you hear me now?

20 DR. NARENDRAN: I can hear you now, yes.

21 DR. JEFFREY: Okay. Wonderful. Thank you.

22 I think this is a tough question, but similar

1 to previous respondents, I, too, focused on adequate  
2 evidence. Ultimately, I think the data that  
3 demonstrated that AR19 was able to be manipulated for  
4 intranasal use using common household tools and much  
5 time needed for manipulation, specifically I was  
6 focusing on Tools 4 and 6, which yielded a 42 percent  
7 and 47 percent yield, I'm thinking with a 30-milligram  
8 dose, somebody would get up to 12 milligrams of usable  
9 product for intranasal use, which I do think would be  
10 reinforcing for the individual, so my vote was no.

11 DR. NARENDRAN: Thank you.

12 Dr. Habel?

13 DR. HABEL: This is Laurel Habel. I also  
14 voted no. While I think the product probably is harder  
15 to manipulate into a powder for snorting, I didn't  
16 think the likeability data was adequately convincing  
17 that is going to be a meaningful deterrent for  
18 intranasal use.

19 DR. NARENDRAN: Dr. McGough?

20 DR. MCGOUGH: I voted no. I think reliance on  
21 a marginal outcome from selected post hoc tests from a  
22 study, that shell validation is just not compelling.

1 DR. NARENDRAN: Dr. Krishna?

2 DR. KRISHNA: This is Sonia Krishna from Dell  
3 Medical School at UT Austin. I voted no for reasons  
4 listed above and described by others. I'm surprised,  
5 still, that the rates of snorting are still so high.  
6 I'm a practicing child adolescent and adult  
7 psychiatrist, but I think the data shows that there is  
8 still likeability, and I do think people can be  
9 motivated to modify, as the FDA has shown.

10 DR. NARENDRAN: Thank you.

11 This is Raj Narendran. I'm next. I was not  
12 convinced. The fact that the validity test failed was  
13 a big thing for me. If these people couldn't even  
14 tell, with reliable -- distinguish amphetamine from  
15 placebo, to me that in itself is a problem to really  
16 tie that much more weight and try to interpret the AR  
17 data, so that was a big thing. The pharmacokinetics  
18 also suggests -- it's 30 percent less, but there's  
19 still a significant amount there for people to get  
20 reinforced. So those two things were pretty clenching  
21 for me, and I was not satisfied.

22 Next is Dr. Jain.

1 DR. JAIN: Hello. This is Dr. Felipe Jain,  
2 Massachusetts General Hospital. In this question, I  
3 focused on whether the AR19 formulation would deter  
4 intranasal use relative to amphetamine sulfate, not  
5 relative to everything else on the market and not in  
6 general. I think that the FDA has a very hard job to  
7 set a threshold for a primary endpoint that does not  
8 have validation in the clinical research literature. I  
9 fear that's not meaningful and impedes innovation, to  
10 design a trial for approval around such an endpoint.

11 In this situation, I consider it more  
12 meaningful to consider the pharmacodynamic data along  
13 with the aggregate primary and secondary outcomes, in  
14 which the 95 confidence intervals of all of them were  
15 greater than zero. Although the ease of manipulation  
16 may be greater than what the company expects, the FDA's  
17 results still provide optimism relative to amphetamine  
18 sulfate. The most parsimonious interpretation of the  
19 trial results, in my view, is that AR19 would deter  
20 intranasal use relative to amphetamine sulfate. Thank  
21 you.

22 DR. NARENDRAN: Thank you.

1 Dr. Thomas?

2 DR. THOMAS: Hi. Patrick Thomas from Baylor  
3 College of Medicine. I voted no for two sets of  
4 reasons: one for the issues around validation that  
5 were not compelling for reasons previously stated; and  
6 two, if you're looking at it specifically for  
7 deterrence of intranasal use, period, I question the  
8 deterrence approach. While I appreciate that there is  
9 a need for something, I think there are nonmedical ways  
10 to help.

11 I think what happened with the FDA providing  
12 evidence after the fact -- I'll even argue how fair it  
13 was that the company didn't get a chance to rebut  
14 that -- what that is, is really a real-world example of  
15 what would happen with people who are motivated to  
16 abuse substance and something comes out. You think  
17 that it goes one way and another group tries another  
18 thing, and suddenly it's a abusable. So those are the  
19 reasons I voted no.

20 DR. NARENDRAN: Thank you, Dr. Thomas.

21 I do want to remind -- I was guilty of  
22 this -- to please state your vote, whether it's yes or

1 no, and then go forward.

2 DR. THOMAS: Okay. I voted no.

3 DR. NARENDRAN: Thank you.

4 Dr. Marshall?

5 DR. MARSHALL: Brandon Marshall, Brown School  
6 of Public Health. I voted no for the previously stated  
7 reasons. Thank you.

8 DR. NARENDRAN: Thank you, Dr. Marshall.

9 Dr. Hernandez-Diaz?

10 DR. HERNANDEZ-DIAZ: Sonia Hernandez-Diaz. I  
11 voted yes, and like Dr. Jain and Dr. Posner, I was  
12 taking it in comparison with other amphetamine  
13 formulations. I thought that at least in some  
14 populations, because of the increased efforts needed,  
15 although it is not impossible for intranasal  
16 preparations to be used this way, the reduction in the  
17 benefits and the rewards, this formulation will deter  
18 the use, at least in some patient populations, compared  
19 to these other existing amphetamine formulations.  
20 Thank you.

21 DR. NARENDRAN: Thank you.

22 Dr. Kulldorff?

1 DR. KULLDORFF: Martin Kulldorff. No. I will  
2 first commend the sponsor for trying to reduce the  
3 negative effects from the medication; I think that's  
4 very important. I think there may be some reduction,  
5 making it more difficult and have some reduction in  
6 intranasal use compared to other medications, but I  
7 interpret it was stronger than that, so I think it's  
8 still possible, so I voted no.

9 DR. NARENDRAN: Thank you, Dr. Kulldorff.  
10 Dr. McCurdy?

11 DR. MCCURDY: This is Chris McCurdy at the  
12 University of Florida. I voted no for many of the  
13 reasons that were already discussed. But I also think  
14 that this is a different product in that it's an  
15 immediate-release product orally, so somebody could  
16 orally take it and then insufflate the boost a little  
17 bit and get that good feeling. So if they really  
18 wanted to go after it and go at the effort, it could be  
19 done.

20 I think that something is desperately needed  
21 in this area that is manipulation resistant or abuse  
22 deterrent, but I just didn't see the evidence in this

1 case.

2 DR. NARENDRAN: I guess that concludes our  
3 voting for question number 2. We will now move on to  
4 question number 3. It's our second voting question.

5 Question number 3. Based on the information  
6 provided, including the syringeability study, has the  
7 applicant provided adequate evidence that AR19 would  
8 deter intravenous use?

9 Are there any questions about the question?  
10 If there are, please raise your hand.

11 Dr. Jain, go ahead.

12 (No response.)

13 DR. NARENDRAN: Dr. Jain, you can ask your  
14 question about the question.

15 DR. JAIN: Oh, sorry. I was on mute.

16 Dr. Felipe Jain, Massachusetts General.

17 Could the FDA clarify whether this question is  
18 meant to be interpreted relative to amphetamine sulfate  
19 and other stimulants on the market; whether it's meant  
20 to be interpreted relevant to particular populations;  
21 or simply in general, whether we're supposed to take it  
22 as it is?

1 DR. FARCHIONE: This is Tiffany Farchione.  
2 The question is intended to be about this product in  
3 particular, have they demonstrated abuse-deterrent  
4 properties by the intravenous route.

5 DR. JAIN: So is it deterrent relative to any  
6 other products on the market or it's just open?

7 DR. FARCHIONE: I guess it's an open question.

8 DR. JAIN: Okay.

9 DR. FARCHIONE: All of the studies --

10 DR. JAIN: Thank you.

11 DR. FARCHIONE: -- were -- yes.

12 DR. NARENDRAN: Thank you.

13 Dr. Jeffrey, you can go ahead and ask your  
14 question.

15 DR. JEFFREY: Sorry. That was an error on my  
16 part. I'm lowering my hand. Thank you.

17 DR. NARENDRAN: Okay.

18 Dr. Posner?

19 DR. POSNER: This is very similar to the last  
20 one, and the question is, deter intravenous use; does  
21 it make it more preferable to try to go through all the  
22 things they showed, to put in a syringe versus just

1 taking it orally? That was the problem with the last  
2 question, the definition of "deter." Deter to me means  
3 make it more difficult, and if it's only for this  
4 medication, they can take it three ways. They can  
5 snort it, they can inject it, or they can swallow it.

6 Are they just asking is it easier to swallow  
7 than it is to do it as an intravenous drug?

8 DR. FARCHIONE: Sorry. This is Tiffany  
9 Farchione again. I think perhaps you guys may be  
10 thinking about this a little bit too much. The main  
11 issues here are the product is intended to deter abuse  
12 through the intranasal and intravenous routes. The  
13 last question was focused on the claims related to the  
14 intranasal route. This question is focused on claims  
15 related to the intravenous route and the evidence that  
16 was presented to support those claims.

17 DR. NARENDRAN: Thank you.

18 Dr. Baker? Do you want to ask your question?

19 DR. BAKER: Yes. Thank you very much. Robert  
20 Baker from Eli Lilly, industry rep. I'm just thinking  
21 it might be helpful for the agency to get a little more  
22 clarity on how they would expect the committee to weigh

1 the new data that were presented with the FDA's  
2 presentation today.

3 Dr. Farchione already appropriately mentioned  
4 that it would be a review issue as they continue to  
5 assess it, but I think from a general standpoint, I  
6 think sponsor would be concerned if the decision turned  
7 too much on data that the committee hasn't been able to  
8 see and the sponsor hasn't seen. Because it's going to  
9 be a review issue, I thought it might be helpful just  
10 to have a little more clarity to the committee as to  
11 how they should weigh that.

12 DR. FARCHIONE: This is Tiffany Farchione  
13 again. I think it's really up to each individual  
14 committee member to determine how much weight they want  
15 to put on that information. Again, we just received  
16 it. We're still reviewing it. We thought it was  
17 important to at least mention it because it will weigh  
18 into our decision, but in what way it's going to weigh  
19 in, we're still trying to determine that. So I think  
20 knowing that, it's up to the committee members to judge  
21 for themselves before casting their vote.

22 DR. NARENDRAN: Thank you.

1           It seems like that clarifies our question  
2 about the question. So based on how you feel, what  
3 you've seen, and based on the information provided,  
4 including the syringeability study, has the applicant  
5 provided adequate evidence that AR19 would deter  
6 intravenous use?

7           If there are no further questions about the  
8 question, I think we can move forward and vote on this  
9 question.

10           DR. BONNER: Hi.

11           DR. NARENDRAN: Now, can --

12           DR. BONNER: This is LaToya Bonner, DFO.  
13 Sorry, Chair.

14           Again, we will now move voting members to the  
15 voting breakout room to vote only. There will be no  
16 discussions in the voting breakout room.

17           (Voting.)

18           DR. BONNER: This is LaToya Bonner, the DFO.  
19 The voting has closed and is now complete. The vote  
20 results are displayed. I will read the vote results  
21 into the record: 8 yeses for question 3, 15 nos; zero  
22 abstain.

1           Now, I will turn the meeting back over to the  
2 chair.

3           DR. NARENDRAN: Thank you.

4           Once again, we'll just go down the list and  
5 have everyone who voted state their name and vote into  
6 the record. You may provide a justification for your  
7 vote if you wish to. We'll start with Dr. Nelson.

8           DR. NELSON: Thank you. This is Lewis Nelson  
9 from Rutgers New Jersey Medical School. I voted no.  
10 This is a quantitatively small but clinically huge  
11 issue. The limited data provided by the sponsor leave  
12 open many questions for both scientific and clinical  
13 relevance. This is definitely, in part, due to the  
14 limitations of doing premarketing research on this very  
15 challenging issue.

16           But I am concerned that the product  
17 manipulation did not fully incorporate the lessons of  
18 OxyContin manipulation by street pharmacologists, and I  
19 think that it's very unclear to me how to actually  
20 utilize the information that's been provided. So I  
21 don't really feel that they've been able to show that  
22 the drug does or will deter intravenous use. Thank

1       you.

2               DR. GRIFFIN: Yes. This is Marie Griffin from  
3       Vanderbilt. I voted no. Again, I don't think the  
4       information presented was adequate evidence to  
5       determine that it would meaningfully deter IV abuse or  
6       misuse.

7               DR. NARENDRAN: Dr. Iyengar?

8               DR. IYENGAR: This is Satish Iyengar from the  
9       University of Pittsburgh. I also voted no, although I  
10      have to admit that I was tempted to abstain given the  
11      tentative nature of the recent data that the FDA has.  
12      But for the reason that Dr. Griffin said, I decided no.  
13      Thank you.

14              DR. NARENDRAN: Dr. Green?

15              DR. GREEN: Traci Green. I think based on the  
16      data that were provided, the syringeability studies  
17      were really clear, and in parallel I think from the  
18      sponsor and what the FDA provided, indicating that  
19      syringeability was quite evident, that wasn't  
20      sufficient to deter intravenous use if it were to move  
21      forward in this formulation, so I voted no.

22              DR. NARENDRAN: Ms. Witczak?

1 MS. WITCZAK: Kim Witczak, Woodymatters,  
2 consumer rep. While I appreciated all the efforts that  
3 they went through to test the syringeability, I am  
4 concerned about both the information that the FDA  
5 presented, the new stuff, as well as the company, as  
6 well as the unknown material. And I believe, again,  
7 there will be some future unintended consequences,  
8 potential unintended consequences, of this ability,  
9 syringeability, as well as, again, I think we need to  
10 establish a higher standard for any products that will  
11 be given this type of abuse-deterrent formulation going  
12 forward.

13 DR. NARENDRAN: Dr. Calis?

14 DR. CALIS: This is Karim Calis from the NIH,  
15 and I voted no. I had to think a lot about this, as  
16 I'm sure the others have, because I think, in a way,  
17 the applicant certainly appears to have made it more  
18 challenging to prepare the product for intravenous use.  
19 However, I think that there are some questions about  
20 the syringeability, and I think, unfortunately, there  
21 are very creative individuals, and there could be  
22 creative methods of manipulation that could subvert

1 that, and those can be very quickly and widely  
2 disseminated on social media and what have you. And I  
3 just don't think that, again, they've met that bar with  
4 regards to deterring intravenous use. Thank you.

5 DR. NARENDRAN: Dr. Dunn?

6 DR. W. DUNN: This is Walter Dunn. I voted  
7 no. I'm going back to my framework of considering  
8 effort and reward informing the issue of deterrence I  
9 think at no fault of the sponsor because I think  
10 they're being put in a difficult position that they  
11 cannot demonstrate a direct advantage of AR19 in  
12 reducing the reward or reinforcing benefit since human  
13 abuse potential studies are not possible. So this led  
14 me to conclude that there's not adequate evidence to  
15 demonstrate deterrence of IV use.

16 We're asked to deduce the advantages in  
17 regards to reward based on percentage of amphetamine  
18 extracted, however, I think this really misses the  
19 human element that is difficult to quantify, and that's  
20 why HAP studies are needed. For example, the placebo  
21 effect of IV administration perhaps as an element of  
22 misuse experience, that is interpreted as a high for

1 the patient, so perhaps not as much absolute  
2 amphetamine is required to establish a repeated abuse  
3 behavior. So mechanically, yes. I know that the  
4 effort components of the deterrence question, it does  
5 require more effort to get into an injectable form, but  
6 I don't know about the reward portion of that equation.

7 Finally, I think there's a misguided focus on  
8 looking only at or focusing on Extract 8 to demonstrate  
9 the difficulty manipulating AR19 into injectable form.  
10 Although that was the one that yielded the highest  
11 percentage of amphetamine -- and I'll address this the  
12 safety issue or safety question -- I think there should  
13 have been also some discussion about how difficult or  
14 how easy it was to obtain the other injectable  
15 extracts. Thank you.

16 DR. NARENDRAN: Dr. Meisel?

17 DR. MEISEL: Hi. Steve Meisel with Fairview  
18 in Minneapolis. I reluctantly voted yes on this  
19 because I looked at the word "deter." I think there is  
20 no doubt that this is more difficult than other  
21 products to put into a syringe, and find the red  
22 diluents, and deal with the gunking of the stuff once

1 it's in the formulation. So it's going to make it more  
2 difficult to do. It's going to take the casual user,  
3 the first-time user, and maybe deter them from doing it  
4 at all.

5 But I agree with the others that over the long  
6 haul, the meaningfulness of that is probably in doubt  
7 because, as I mentioned with the intranasal forms,  
8 before a month is out, if this product were to be  
9 approved, there will be all sorts of recipes on the  
10 internet. So if you're with somebody that has access  
11 to that, who's done it before, or whatever, it's going  
12 to end up being relatively easy for somebody to go  
13 ahead and do it. But there is that mild deterrence for  
14 that first-time casual user who doesn't have the  
15 motivation to go and look for the way to get this done  
16 right. So I look at that word "deterrence" in maybe  
17 sort of a narrow frame. Thank you.

18 DR. NARENDRAN: Thank you.

19 Dr. Posner?

20 DR. POSNER: Hello. Philip Posner, and I  
21 voted yes and agree with Dr. Meisel. I once again look  
22 at pick-resistant versus pick-proof on a lock, or

1 water-resistant versus waterproof on a watch. Clearly,  
2 there's a way around it if you really want to, but it  
3 is going to deter the burglar and it's going to deter  
4 the diver from losing something. Again, I think the  
5 question would have been better phrased than using the  
6 word "deter."

7 DR. NARENDRAN: Thank you.

8 Dr. Zibbell?

9 DR. ZIBBELL: Thanks. This is Jon Zibbell,  
10 RTI International. I voted no. I thought, based on  
11 the info provided, there was really no meaningful  
12 evidence to deter IV use. Sure, I agree with  
13 Dr. Calis, it is a little more difficult, so there  
14 might be the neophyte user who won't want to put in the  
15 effort. But my work with injectors, and the literature  
16 is pretty clear, that people that do prefer injecting  
17 will take inordinate time to prepare an injectable  
18 solution. They prefer the rush, and it's worth it.  
19 Any effort in this case is worth the reward.

20 Plus, really, since this is an instant release  
21 and not an extended release, if you orally use it, you  
22 get a dose dump. They can just eat the pill while

1 they're preparing it, even if it takes an hour. So get  
2 a dose of 30 milligrams orally so they can feel that  
3 effect while they're doing it.

4 I also want to just say that people don't get  
5 to choose what prescription stimulants they use. I  
6 kind of heard some discussion, "Well, people can choose  
7 a different product." But contrary to the supply and  
8 demand of neoclassical economics, when it comes to  
9 illicit drugs, really, supply creates demand, and  
10 people are going to use what's available to them. And  
11 my fear is if this is available, they will try to  
12 inject it, and the harms associated with that -- that  
13 we can talk about in the next question -- and the  
14 safety come to the fore. So that's why I voted no.  
15 Thank you.

16 DR. NARENDRAN: Thank you.

17 Doctor Fiedorowicz?

18 DR. FIEDOROWICZ: Yes. Jess Fiedorowicz in  
19 Ottawa. My vote was yes. Excepting that the ability  
20 to say this is really inherently limited, AR19 seems to  
21 be substantially harder to extract in injectable form,  
22 and that should deter intravenous use as was worded in

1 the question. I have concerns about the potential for  
2 this to be circumvented from the agency's  
3 late-breaking, albeit vague, data. I may be somewhat  
4 ambivalent about this vote, and I do have safety  
5 concerns about injection-related harm, as previously  
6 was well articulated by Dr. Jon Zibbell, although those  
7 did not weigh into my response to this particular  
8 question. Thank you.

9 DR. NARENDRAN: Thank you.

10 Dr. Jeffrey?

11 DR. JEFFREY: Hi there. Jessica Jeffrey here  
12 from UCLA. I voted yes, and the reasons I voted yes  
13 were actually already previously stated by Drs. Meisel  
14 and Posner, and I don't have anything to add to that.  
15 Thank you.

16 DR. NARENDRAN: Thank you.

17 Dr. Boudreau?

18 DR. BOUDREAU: Hi. Denise Boudreau, and I  
19 also voted yes, and I don't think I have anything to  
20 add other than agreeing with what's been said by other  
21 folks that voted yes as well.

22 DR. NARENDRAN: Thank you.

1 Dr. Habel?

2 DR. HABEL: This is Laurel Habel. I voted no,  
3 basically for the reasons that have been stated by  
4 others.

5 DR. NARENDRAN: Thank you.

6 Dr. McGough?

7 DR. MCGOUGH: Dr. McGough, UCLA. I voted no  
8 as well, and again, the reasons have been stated.

9 DR. NARENDRAN: Dr. Krishna?

10 DR. KRISHNA: This is Sonia Krishna from UT  
11 Austin. I voted yes for the reasons others have  
12 stated. I believe that it will deter. There is a  
13 limit to what the sponsor was able to do, and they  
14 tried, and people will be able to circumvent it. I  
15 don't think it will prevent, but I do think it will  
16 deter.

17 DR. NARENDRAN: This is Raj Narendran. I  
18 voted no. I just want to echo the comments of  
19 Dr. Zibbell and Dr. Dunn, and I have nothing more to  
20 say. They put it elegantly.

21 Dr. Jain?

22 DR. JAIN: This is Dr. Felipe Jain, Mass

1 General. I voted no for the reasons stated by  
2 Drs. Nelson and Dunn. However, if the question had  
3 been relative to other immediate-release preparations  
4 on the market, I would have voted yes.

5 DR. NARENDRAN: Thank you.

6 Dr. Thomas?

7 DR. THOMAS: This is Dr. Patrick Thomas. I  
8 voted yes for some of the reasons stated by Meisel and  
9 Posner. It's technically harder, but it might not  
10 matter. Also, the newer FDA report I think was only  
11 relevant to insufflation. If it was syringeability, I  
12 might have changed my vote.

13 DR. NARENDRAN: Thank you.

14 Dr. Marshall?

15 DR. MARSHALL: Brandon Marshall. I voted no.  
16 For me, this is a question of both the deterrence  
17 mechanism but also the proposed dosing, which are very  
18 high in this case. So even a 30-milligram pill, it  
19 seemed like there were quite a few conditions where you  
20 could extract 40 percent of the active amphetamine,  
21 which is 12 milligrams, which appears to be sufficient  
22 for a rewarding mechanism.

1           So I think I might have voted yes if the  
2           maximum dose were lower, particularly given the rather  
3           impressive data that the multidose extractions didn't  
4           seem very feasible, but with these high-dose pills, I  
5           just think there might actually be an incentive to  
6           inject them for that reason. Thank you.

7           DR. NARENDRAN: Thank you.

8           Dr. Hernandez-Diaz?

9           DR. HERNANDEZ-DIAZ: Sonia Hernandez-Diaz. I  
10          voted yes because although it is not impossible, it is  
11          more challenging to prepare the product for intravenous  
12          use. I think that maybe in at least some patient  
13          populations, not in the usual injectors, it would deter  
14          compared to oral use, given the availability and other  
15          amphetamine formulations. Thank you.

16          DR. NARENDRAN: Thank you.

17          Dr. Kulldorff?

18          DR. KULLDORFF: Martin Kulldorff. No.

19          DR. NARENDRAN: Thank you.

20          Dr. McCurdy?

21          DR. MCCURDY: This is Chris McCurdy at the  
22          University of Florida. I also voted no, mainly for

1 most of the reasons that Dr. Zibbell outlined

2 DR. NARENDRAN: Thank you. That concludes our  
3 voting on question number 3.

4 I think we could pause for maybe a 10-minute  
5 break just for people to use the restroom, maybe grab a  
6 cup of coffee, or whatever, and then we can meet back  
7 at 3:41. Panel members, please remember there should  
8 be no chatting or discussion about the meeting topic  
9 with anyone during the break. We'll resume at 3:42 for  
10 question number 4. Thank you.

11 Dr. Bonner, do you have anything else to add?

12 DR. BONNER: No, sir.

13 DR. NARENDRAN: Okay. Thank you.

14 (Whereupon, at 3:32 p.m., a recess was taken.)

15 DR. NARENDRAN: I hope everyone is back.

16 We will now move on to question number 4.

17 Question number 4. Based on the information  
18 provided, has the applicant adequately characterized  
19 the safety of AR19?

20 Are there any questions about this question?

21 Please raise your hand.

22 (No response.)

1 DR. NARENDRAN: No questions? It sounds  
2 pretty clear-cut. I think we could go ahead and vote.  
3 I'll pass it over to Dr. Bonner.

4 DR. BONNER: Hello. This is LaToya Bonner  
5 again, DFO. We will now move voting members to the  
6 breakout room. There will be no discussions.

7 (Voting.)

8 DR. BONNER: The voting has closed and is now  
9 complete. The vote results are displayed. I will read  
10 the vote results into the record. For question 4, 2  
11 yeses, 19 nos, 2 abstentions.

12 Stand by. We're still waiting for the vote to  
13 post.

14 (Pause.)

15 DR. BONNER: This is LaToya Bonner, DFO. I  
16 will read the vote into the record again. For  
17 question 4, 2 yeses, 19 nos, 2 abstentions. I will  
18 turn the meeting back over to the chair.

19 DR. NARENDRAN: Thank you.

20 So we'll just do the same thing. We'll go  
21 down the list and everybody could state their vote for  
22 the record and justification if you feel it's

1 necessary. We'll start with Dr. Hernandez-Diaz.

2 DR. HERNANDEZ-DIAZ: Sonia Hernandez-Diaz. I  
3 voted no in the sense of there is still a lot of  
4 uncertainty around many safety aspects, and I don't  
5 think we can say that we can characterize the safety of  
6 AR19 at this point.

7 DR. NARENDRAN: Dr. Griffin?

8 DR. GRIFFIN: Yes. This is Marie Griffin. I  
9 voted no. I'm concerned that it can be injected  
10 intravenously and that it contains high molecular  
11 weight PEO and some unknown additives. I just don't  
12 think the studies done were reassuring enough that it's  
13 safe if this were to happen.

14 DR. NARENDRAN: Thank you.

15 Dr. Iyengar?

16 DR. IYENGAR: This is Satish Iyengar from  
17 Pittsburgh. For the same reasons as have been stated,  
18 I also voted no.

19 DR. NARENDRAN: Dr. Green?

20 DR. GREEN: I voted no for many of the same  
21 reasons, including the chemical composition and the  
22 high molecular weight PEO. I also have additional

1 concerns around the use of talc and the amount of talc  
2 that would be exposed in a repeated number of injection  
3 or insufflation episodes, as might happen with a  
4 stimulant using independent lipid [indiscernible] tool,  
5 as was used by these routes. So I have additional  
6 safety concerns that they weren't adequately addressed,  
7 the data that were presented.

8 DR. NARENDRAN: Thank you.

9 Ms. Witczak?

10 MS. WITCZAK: Kim Witczak. I voted no. We  
11 already know that there are safety issues in general  
12 with stimulants, however not knowing the chemical  
13 material makeup in some of the injections, we don't  
14 know what the future unintended consequences could be,  
15 and I don't think it was really studied enough to know  
16 if there were any safety issues that we can claim.

17 DR. NARENDRAN: Thank you.

18 Dr. Calis?

19 DR. CALIS: This is Karim Calais from the NIH,  
20 and I voted no as well. I think although the safety  
21 data are limited given the nature of the application,  
22 given that it's using the 505(b)(2) pathway, I would

1 have relatively few concerns with safety if it were to  
2 be used exclusively orally for the proposed indication,  
3 given what we know about stimulants and so forth.  
4 Obviously, these are not benign products, but at least  
5 we would have adequate safety information.

6           However, as proposed by the applicant, this  
7 product, if it were approved, would have this unique  
8 space and unique distinction of being a first product  
9 for stimulants with that designation that we talked  
10 about. So in light of that, the burden of proof is on  
11 the applicant to clearly demonstrate safety if the  
12 product were intentionally manipulated and abused, and  
13 I don't believe that they've done that. Thank you.

14           DR. NARENDRAN: Thank you.

15           Dr. Dunn?

16           DR. W. DUNN: Walter Dunn from UCLA. I voted  
17 no. For me, this was really the key question regarding  
18 AR19, specifically the potential toxicity with IV  
19 administration to cause complications such as  
20 thrombotic microangiopathy. The sponsor stated in  
21 their document that the animal toxicity studies, they  
22 selected an extract that described, quote/unquote,

1 "worst-case scenario," based off the extractable amount  
2 of amphetamine content. I think Extract 8 recovered  
3 50 percent.

4 I think, in fact, this was probably the  
5 best-case scenario or at least a close second.  
6 Extract 1 and 8 were probably the safest out of all the  
7 extracts because they contained the lowest amounts of  
8 PEO, greater than 1 million in size, and it suggested  
9 that toxicity is likely correlated to the higher  
10 molecular weight PEOs. So I interpreted Extract 8 as  
11 the worst-case scenario in terms of potential  
12 reinforcing effect but not toxicity.

13 But the question really is, is it toxic and is  
14 it safe, or is there potential toxicity, is it safe?  
15 What they should have done is selected the injectable  
16 extract with the greatest potential to harm as the,  
17 quote/unquote, "worst-case scenario." For example,  
18 Extract 2 and Extract 6 potentially have the highest  
19 milligram content of high molecular weight PEOs, so the  
20 other aspect they should have considered was the  
21 likelihood that these extracts would represent  
22 real-world manipulation with the compound.

1           But patients will not be measuring amphetamine  
2 content before they inject the manipulated product.  
3 They will inject the first injectable solution they  
4 achieve, and that's one that probably requires the  
5 least amount of pretreatment of manipulation to  
6 produce, see if it achieves the reinforcing high, and  
7 then go back to the drawing board.

8           So by going to the extract of the least amount  
9 of effort, and furthermore, by testing the extract of  
10 the least amount of effort, this also will give you a  
11 clearer picture of how toxic it could be, as it's  
12 suggested that the amount of manipulation is correlated  
13 to the amount of high-weight PEOs present. The more  
14 manipulation seems to break it down to smaller pieces.

15           Finally, what I'm not confident about is how  
16 injecting these toxic, easier to obtain extracts would  
17 be, even after a limited amount of administration. In  
18 these studies, these poor rabbits didn't even make it  
19 past one round of PEO 7 million administration. So  
20 even though there's an argument that may be made that  
21 IV is not a major route of administration, AR19 would  
22 likely be targeted to a high-risk population in risk

1 for IV use. And I know as a clinician, I would be very  
2 nervous to prescribe it to a patient who I think is at  
3 high risk for IV misuse, based off of this safety data.  
4 Thank you.

5 DR. NARENDRAN: Thank you.

6 Dr. Meisel?

7 (No response.)

8 DR. NARENDRAN: Dr. Meisel, you may want to  
9 unmute yourself.

10 DR. MEISEL: Is that better?

11 DR. NARENDRAN: Yes, I can hear you now.

12 DR. MEISEL: Ok. Somehow I was muted on the  
13 computer and on my phone. Anyway, Steve Meisel with  
14 Fairview in Minneapolis. I voted no for the reasons  
15 that others have already stated.

16 DR. NARENDRAN: Thank you.

17 Dr. Nelson?

18 DR. NELSON: Lewis Nelson, Rutgers New Jersey  
19 Medical School. I voted no, and I do think it's very  
20 difficult to characterize the, quote/unquote, "safety,"  
21 based on the three classes of studies performed. The  
22 in vitro and preclinical data really do not speak to

1 the safety of this product. I have concerns of the  
2 large volume dilution and injection, and as a medical  
3 toxicologist, it's hard to ignore the problems with  
4 talc and PEO injections. Really, to find any safety  
5 data for that would really require epidemiological  
6 evidence, which is a bit of a catch-22 in this case  
7 because the product is not marketed. Thank you.

8 DR. NARENDRAN: Thank you.

9 Dr. Fiedorowicz?

10 DR. FIEDOROWICZ: Yes. Jess Fiedorowicz in  
11 Ottawa. I voted no, and I have nothing to add. Thank  
12 you.

13 DR. NARENDRAN: Thank you.

14 Dr. Jeffrey?

15 DR. JEFFREY: Hi. Dr. Jeffrey from UCLA. I  
16 voted no. I don't believe the applicant has adequately  
17 characterized the safety of AR19. In terms of the  
18 intranasal studies, I don't believe the applicant  
19 sufficiently considered higher doses or repeat  
20 administration with intranasal use, which is common  
21 with intranasal use.

22 In terms of safety with IV use, the pivotal

1 toxicity study in rabbits was not interpretable. In  
2 addition, multiple conditions were injectable at  
3 physiological temperatures and yielded amphetamine, but  
4 these were not tested. Some of the injectable extracts  
5 yielding higher amounts of higher molecular weight PEO  
6 were evaluated.

7 DR. NARENDRAN: Thank you.

8 Dr. Boudreau?

9 DR. BOUDREAU: Denise Boudreau, and I voted no  
10 as well for many of the reasons that were stated,  
11 concerns over the data that was presented, and also  
12 lack of data and lack of studies that I would like to  
13 see, as was mentioned by somebody else, around  
14 epidemiologic studies, although I know that is somewhat  
15 challenging in this case.

16 DR. NARENDRAN: Thank you.

17 Dr. Habel?

18 DR. HABEL: This is Laurel Habel. I voted no.  
19 I have nothing to add.

20 DR. NARENDRAN: Thank you.

21 Dr. Posner?

22 DR. POSNER: This is Philip Posner, and I

1 abstained just because there was insufficient data, and  
2 I don't have a sufficient clinical background to have  
3 voted no on it.

4 DR. NARENDRAN: Thank you.

5 Dr. Zibbell?

6 DR. ZIBBELL: Hey. This is Jon Zibbell, RTI  
7 International, Atlanta, Georgia. I voted no. Others  
8 said safety wasn't clearly demonstrated. I totally  
9 concur. When I think of safety, I put it in three  
10 buckets: addiction, infectious disease, and overdose.

11 Addiction is something that we can have on the  
12 oral route. This is a high-dose medication, but it's  
13 not extended release like the high-dose opioids usually  
14 are extended release. So when you orally inject it,  
15 you get a dose dump, so we're not really preventing  
16 addiction.

17 Infectious disease, I think there's a big  
18 concern that wasn't really addressed. We're talking  
19 about HIV, hepatitis, endocarditis, osteomyelitis, soft  
20 tissue infections, and then we're talking about  
21 overdose. With methamphetamine, and amphetamines more  
22 generally, the mortality risk is very low. You'd be

1 hard-pressed to go back a hundred years and find any  
2 mortality epidemic with stimulants. And even the  
3 current stimulant epidemic that we're seeing as related  
4 to fentanyl, there is a small population of people  
5 dying from methamphetamine, but when you look at their  
6 medical examiner records, there's a ton of other drugs  
7 on board, so the OD risk is generally low as well.

8           Since there is a high dose, people will  
9 insufflate. I want to echo what Jessica Jeffrey said  
10 about the nasal damage. We don't know, from the higher  
11 size particles and people are reproducing, if there is  
12 going to be any nasal damage with that. As I said  
13 before, I think people will IV this drug, and on the IV  
14 aspect, high molecular PEO with TTP and TMA wasn't  
15 addressed.

16           The talc is an issue, but talc is in all  
17 drugs. The thing with talc is talc is basically a  
18 silica that will cut up your veins, and that's really  
19 the biggest problem with the talc, is all the vein  
20 damage and the stuff to the heart. But the congealing,  
21 I'm really nervous about that. We saw that with Opana,  
22 and people used more water and, hence, they used larger

1       syringes. The applicant mentioned larger syringes were  
2       needed, so that raised all of that dead space, and HIV,  
3       and the evidence we have with that.

4               I also know that a lot of these medications  
5       are going to be in resource-deprived communities  
6       without many other options, like Scott County, Indiana  
7       that happened, and people take more risky behaviors  
8       when they're in those situations. So for all of the  
9       above, I thought the safety wasn't clearly  
10       demonstrated, and I voted no.

11               DR. NARENDRAN: Thank you.

12               Dr. Kulldorff?

13               (No response.)

14               DR. NARENDRAN: Dr. Kulldorff --

15               DR. KULLDORFF: Yes, I'm here. Martin  
16       Kulldorff, yes. I work a lot with postmarket safety  
17       surveillance, so obviously no drug is -- we don't have  
18       complete information about the safety of any drug when  
19       it's approved. But I think the applicant made an  
20       adequate [indiscernible] of the safety of this, both in  
21       terms of the positive and negative aspects of it, so I  
22       voted yes.

1 DR. NARENDRAN: Thank you.

2 This is Raj Narendran, and I voted no for all  
3 the reasons mentioned by my other colleagues.

4 Dr. Jain?

5 DR. JAIN: This is Dr. Felipe Jain, Mass  
6 General. I voted yes for the reasons stated by  
7 Dr. Kulldorff. If the medication were intended to be  
8 labeled for IV use, I may have voted differently, but  
9 as an oral medication, as Dr. Calis stated, its safety  
10 profile has been characterized. Thank you.

11 DR. NARENDRAN: Thank you.

12 Dr. Thomas?

13 (No response.)

14 DR. NARENDRAN: Dr. Thomas, you may want to  
15 unmute yourself.

16 (No response.)

17 DR. NARENDRAN: I think we lost Dr. Thomas.

18 Dr. Marshall?

19 DR. MARSHALL: Brandon Marshall. I voted no.  
20 I was struck by the fact that the FDA questioned  
21 whether rabbits were even an appropriate animal model  
22 for that in vivo study, and I agree with Dr. Dunn that

1 I didn't really know how to interpret the findings when  
2 all of the positive control rabbits died in 30 minutes.

3 So I had concerns about that, and then I share  
4 the concerns around the high doses of these medications  
5 that Dr. Zibbell raised. I think that does provide an  
6 incentive to use these medications nonmedically. So I  
7 would encourage sponsors to think about the dose of  
8 these medications in addition to some of these supposed  
9 deterrent effects to get to the result that we're  
10 looking for.

11 DR. NARENDRAN: Thank you.

12 Dr. McGough?

13 DR. MCGOUGH: James McGough. I voted no. The  
14 reasons have been stated.

15 DR. NARENDRAN: Thank you.

16 Dr. Thomas, if you're there, if you want to go  
17 ahead and register your vote and reason.

18 DR. THOMAS: Hello? Can you hear me?

19 DR. NARENDRAN: I can hear you now.

20 DR. THOMAS: Hello?

21 DR. NARENDRAN: Yes, I can hear you,

22 Dr. Thomas.

1 DR. THOMAS: Dr. Thomas. I abstained.

2 DR. NARENDRAN: Thank you.

3 Dr. Krishna?

4 DR. KRISHNA: This is Sonia Krishna here in  
5 Austin, and I voted NO. Thank you.

6 DR. NARENDRAN: Thank you.

7 Dr. McCurdy?

8 DR. MCCURDY: Chris McCurdy at the University  
9 of Florida. I voted no.

10 DR. NARENDRAN: Thank you.

11 This concludes our voting for question  
12 number 4. I think we can move on to question number 5.

13 (Pause.)

14 DR. NARENDRAN: Give it a second for it to  
15 upload.

16 Question number 5, do the benefits of AR19  
17 outweigh the risks for the proposed indication?

18 Are there any questions about the question?  
19 It's pretty straightforward? If there are, please  
20 raise your hand.

21 (No response.)

22 DR. NARENDRAN: We see none, so I think we can

1 go ahead and vote.

2 DR. BONNER: We will now move voting members  
3 to the voting breakout room to vote only. There will  
4 be no discussion in the voting breakout room.

5 (Voting.)

6 DR. BONNER: This is LaToya Bonner, DFO. The  
7 voting has now closed and is now complete. The vote  
8 results are displayed. I will read the vote results  
9 into the record.

10 For question 5, zero yes, 23 nos, zero  
11 abstain. I will turn the meeting back over to the  
12 chair.

13 DR. NARENDRAN: Thank you.

14 The same; if people just want to run through  
15 the list from the top and just state for the record  
16 their vote.

17 Dr. Jain, we'll start with you.

18 DR. JAIN: I voted no.

19 DR. NARENDRAN: Dr. Thomas?

20 DR. THOMAS: I voted no.

21 DR. NARENDRAN: Dr. Griffin?

22 DR. GRIFFIN: This is Marie Griffin, and I

1 voted no.

2 DR. NARENDRAN: Dr. Iyengar?

3 DR. IYENGAR: This is Satish Iyengar. I also  
4 voted no.

5 DR. NARENDRAN: Dr. Green?

6 DR. GREEN: I voted no, and that was based on  
7 the past three other questions that asked some more  
8 things to lead to this overall risk-benefit decision  
9 here, which was also consistent with no.

10 DR. NARENDRAN: Thank you.

11 Ms. Witczak?

12 MS. WITCZAK: Kim Witczak. I voted no, and  
13 for a lot of the same reasons from the previous three  
14 questions, as well as I think we need to be setting a  
15 higher standard for something new like this.

16 DR. NARENDRAN: Thank you.

17 Dr. Calis?

18 DR. CALIS: This is Dr. Calis, and I voted no  
19 as well.

20 DR. NARENDRAN: Thank you.

21 Dr. Dunn?

22 DR. W. DUNN: This is Walter Dunn. I voted

1 no, primarily based off a concern that AR19 would  
2 introduce safety and toxicity issues that were not  
3 adequately addressed in their studies.

4 DR. NARENDRAN: Thank you.

5 Dr. Meisel?

6 DR. MEISEL: This is Steve Meisel. I voted  
7 no. I thought the benefits, if any, were slim to  
8 non-existent in terms of its ability to deter  
9 manipulation and abuse, and the risks of the excipients  
10 are way too high and uncharacterized, so I voted no.

11 DR. NARENDRAN: Thank you.

12 Dr. Nelson?

13 DR. NELSON: Lewis Nelson. I voted no for the  
14 reasons we've discussed earlier today.

15 DR. NARENDRAN: Thank you.

16 Dr. Zibbell?

17 DR. ZIBBELL: Hi. This is Jon Zibbell, and I  
18 voted no for the reasons that I discussed today. Thank  
19 you.

20 DR. NARENDRAN: Thank you.

21 Dr. Fiedorowicz?

22 DR. FIEDOROWICZ: This is Jess Fiedorowicz in

1 Ottawa. I also voted no and, again, I have nothing to  
2 add to what hasn't already been discussed. Thank you.

3 DR. NARENDRAN: Thank you.

4 Dr. Jeffrey?

5 DR. JEFFREY: Jessica Jeffrey from UCLA. I  
6 voted no.

7 DR. NARENDRAN: Thank you.

8 Dr. Boudreau?

9 DR. BOUDREAU: Denise Boudreau. I voted no,  
10 and I have nothing to add.

11 DR. NARENDRAN: Dr. Habel?

12 DR. HABEL: This is Laurel Habel. I voted no;  
13 nothing to add.

14 DR. NARENDRAN: Thank you.

15 Dr. McGough?

16 DR. MCGOUGH: James McGough, voted no, nothing  
17 to add.

18 DR. NARENDRAN: Thank you.

19 Dr. Kulldorff?

20 DR. KULLDORFF: Martin Kulldorff. No.

21 DR. NARENDRAN: This is Raj Narendran. I  
22 voted no; nothing to add.

1 Dr. Hernandez?

2 DR. HERNANDEZ-DIAZ: Sonia Hernandez-Diaz. I  
3 voted no; reasons already stated.

4 DR. NARENDRAN: Thank you.

5 Dr. Marshall?

6 DR. MARSHALL: Brandon Marshall. I voted no  
7 for the reasons we've already discussed.

8 DR. NARENDRAN: Thank you.

9 Dr. Posner?

10 DR. POSNER: Philip Posner, and I voted no,  
11 agreeing with my colleagues.

12 DR. NARENDRAN: Thank you.

13 Dr. Krishna?

14 DR. KRISHNA: This is Sonia Krishna. I voted  
15 no. Thank you.

16 DR. NARENDRAN: Thank you.

17 Dr. McCurdy?

18 DR. MCCURDY: This is Chris McCurdy. I voted  
19 no.

20 DR. NARENDRAN: Thank you.

21 This concludes our voting questions. Now we  
22 will proceed to question number 6, which is a

1 discussion question, if we could get that question up.

2 Question number 6. What, if any, additional  
3 data are needed to address outstanding issues of AR19?

4 Are there any questions about the question?

5 (No response.)

6 DR. NARENDRAN: I don't see any questions  
7 about the question, so I think we can open it up for  
8 discussion. Is there anybody who wants to go first?  
9 Please raise your hand.

10 Dr. Dunn?

11 DR. W. DUNN: Thank you. This is Walter Dunn  
12 from UCLA. I think I just want to reiterate the issue  
13 about safety. The sponsor is in a tough position to  
14 demonstrate safety advantages in a context where there  
15 is not thrombotic microangiopathy occurring from  
16 injection of a manipulated stimulant currently, so any  
17 incidence of that that occurs, if the product is  
18 approved, it could be a black mark. I think that's a  
19 tough bar to clear.

20 Speaking more for myself, looking at  
21 potentially considering future data, I think, really,  
22 we should be looking at potential real-world situations

1 as far as which of the extracts or compounds might be  
2 used in IV administration. I think looking at the  
3 amphetamine content is one aspect of it, but, again, as  
4 I mentioned, it's really about a safety issue, and  
5 subjects are not going to be measuring the amount of  
6 amphetamine present before they try it. They're going  
7 to try the first thing they come up with, and that's  
8 going to be probably the least manipulated solution  
9 they come to. And the question really is how much  
10 toxicity could that cause after a single  
11 administration.

12 So I would have been much more reassured if  
13 they had tested at least two or three of the other  
14 compounds that they themselves mentioned required less  
15 manipulation to see what impact they had on the animal  
16 models. So for me, primarily, it was a safety  
17 question. Even though I voted no for most of the  
18 benefits side of it, if you can show that your compound  
19 is safe, I think I would be a little more lenient about  
20 how definitive the benefits have to be established.  
21 But if there's really a question about safety, I think  
22 that overrides any kind of marginal benefit that the

1 compound could provide. Thank you.

2 DR. NARENDRAN: Thank you.

3 Dr. Hernandez-Diaz?

4 DR. HERNANDEZ-DIAZ: Hi. Sonia

5 Hernandez-Diaz. This is kind of a discussion or a  
6 question that is a follow-up to an initial question  
7 from Dr. Meisel regarding the standards, because I'm a  
8 little concerned that we are raising the standards.  
9 I'm not arguing against doing so, but it's kind of  
10 unfair maybe to raise them at the time we are seeing  
11 the results presented, both in the need to present  
12 proof of public health impact -- and maybe we have to  
13 discuss whether we've seen any abuse-deterrence or  
14 system [indiscernible] formulation that is going to  
15 meet that standard. And then within the deterrent  
16 formulations, if we want to raise the bar for the first  
17 product, trying to do so to get to higher levels of  
18 deterrence of use for the intranasal and injected use  
19 routes.

20 So I don't know if it's a good time to discuss  
21 it, but I wanted to raise that same question that  
22 Dr. Meisel asked. Thank you.

1 DR. NARENDRAN: Thank you.

2 Are there any other comments; what other data  
3 is necessary?

4 Dr. Meisel?

5 DR. MEISEL: Hi. Steve Meisel. I don't think  
6 it's a point of data necessarily, although certainly  
7 the data that was presented here needs a whole lot more  
8 flushing out with a much larger sample size and so  
9 forth. I've said this before to the agency when we  
10 were talking about abuse deterrence for opioids; we  
11 need some clear definitions and some clear thresholds  
12 for any product to have these kinds of labels  
13 associated with them.

14 I think Arbor did a nice job of using a  
15 different term, "manipulation resistant," and I think  
16 that probably is a superior term, but without  
17 definition, that term is also meaningless, sort of like  
18 "all natural" at the grocery store. What does that  
19 really mean?

20 So I think before a drug like this were to be  
21 resubmitted, or Arbor or somebody else, whether it's  
22 for a stimulant or another drug of abuse, I would

1 suggest that the agency come up with much clearer,  
2 cleaner definitions and thresholds to meet because  
3 without those, I think we put the applicants in a very  
4 difficult position where they don't really know what  
5 does and what doesn't meet the expectations of either  
6 the agency or these advisory committees. Thank you.

7 DR. NARENDRAN: Thank you.

8 Dr. Zibbell?

9 DR. ZIBBELL: Thanks. Jon Zibbell, RTI  
10 International. I kind of want to echo Dr. Meisel a  
11 bit. I feel like the applicants are in a tough spot,  
12 and not just these applicants, but other applicants  
13 we've heard that are wrestling with the abuse-deterrent  
14 formulations. FDA has embraced a logic of ADFs, but I  
15 think, as Dr. Meisel just said, there aren't really  
16 clear standards. And I've sat on multiple of these  
17 committees, and I'm not sure that abuse deterrence is  
18 even possible in terms of creating new harms. It's  
19 kind of a chemical response to a chemical problem.

20 I don't know. I've been saying this several  
21 times. FDA and us as a country, we might just want to  
22 think that maybe a chemical response to a chemical

1       problem isn't the way to go; that maybe the opioid  
2       epidemic can teach us something about responsible  
3       prescribing. We're talking about overprescribing  
4       prescription stimulants for sure, there's no question  
5       about that, and this one is a high-dose one just like  
6       we had the overprescribing of high-dose opioids.

7               So responsible prescribing I think would go a  
8       really long way, primary prevention and education with  
9       young people at school, people with ADD, low-threshold  
10      treatment; universal needle exchange access; I think  
11      there are other ways where we can reduce these harms.  
12      But it just seems like the ADFs are creating more  
13      harms, and I think that's a hard place for the  
14      applicant to be. They don't know clear standards.  
15      They sometimes don't know the population that we're  
16      dealing with, and a lot of us do.

17              So I just wanted to make that point, that I  
18      think this is a larger question about we should rethink  
19      abuse-deterrent formulations more generally. Thank  
20      you.

21              DR. NARENDRAN: Thank you.

22              Dr. Green?

1 DR. GREEN: Thank you. I wanted to just add  
2 to things that haven't been said because I think the  
3 meeting has provided some good suggestions here for  
4 additional data that are needed to address those  
5 outstanding concerns around AR19.

6 I was taken by some of the questions around  
7 the age of the population, the study populations,  
8 especially for the HAP study, and the age range was  
9 quite large. So maybe consider data that focus more  
10 exclusively on a younger population, 18 to 85 perhaps,  
11 where we're more concerned with potential misuse and  
12 where the prevalence of misuse is much higher rather  
13 than a much larger range; just one particular thought.

14 I think also, even the company or future other  
15 companies maybe could address issues around  
16 manipulation methods, and toxicity, and what is  
17 meaningful clinical reduction, and even consider lower  
18 dose ranges for potential ADFs to reduce the interest  
19 or drive and demand to potentially misuse these  
20 medications.

21 Any future such product really needs to focus  
22 on the plan for postmarketing surveillance that aligns

1 with what we have learned from the postmarketing  
2 surveillance problems and the prescription opioid  
3 epidemic, and our prior ADF guidance challenges.  
4 Specifically, we missed and did not follow unintended  
5 consequences, especially with illicit use and potential  
6 transitions to other drugs.

7 We are focusing a lot on snorting and  
8 inhalation, and intranasal routes, and on injections,  
9 but we really should also encourage and insist that  
10 postmarketing surveillance plans also include tracking  
11 of things like methamphetamine and counterfeit  
12 medications because Adderall and other medications that  
13 are already used for prescription stimulants are being  
14 crossed with methamphetamine.

15 We need to track and make sure that these  
16 decisions or these approvals of new medications are not  
17 linked to and creating larger problems that were  
18 unintended. We haven't really talked about that much  
19 today, the hallmark of what's been missing in that  
20 larger conversation about ADFs, and when these  
21 committees have reviewed data, missing heroin from  
22 those reviews. I don't want to miss methamphetamine or

1 other illicit stimulants that we really should be  
2 considering in that larger public health impact of any  
3 new medication. Thank you.

4 DR. NARENDRAN: Thank you.

5 Dr. Nelson?

6 DR. NELSON: Thank you. Lewis Nelson from  
7 Rutgers. I actually lowered my hand. But I do want to  
8 just say that I'm a little concerned that we think  
9 we're going to be able to predict real-world effects  
10 from this preclinical data. I think if we go  
11 back -- and I'll say it again -- and look at the  
12 history of what's happened with the ADF opioids -- and  
13 I know everybody's already said a lot of great things  
14 already, and I'm not going to repeat it -- we will  
15 learn a lot on how to inform what we should be doing  
16 going forward. I'm just not sure this three-stage  
17 process really gives us the information we're going to  
18 need to adequately predict what we want to know. Thank  
19 you.

20 DR. NARENDRAN: Thank you.

21 Dr. Griffin?

22 DR. GRIFFIN: Marie Griffin. I just want to

1 say briefly that although I think most of us would say  
2 "manipulation resistant" is a better term than "abuse  
3 deterrent," I'm not sure we want -- I think FDA would  
4 have to think long and hard about whether they want to  
5 go down that rabbit hole and whether manipulation  
6 resistance gives us anything if there's always the  
7 chance that we're going to be getting unintended  
8 consequences.

9 DR. NARENDRAN: Thank you. This is Raj  
10 Narendran. I'm just going to put in my discussion  
11 thoughts, but I think it would have been helpful to see  
12 all those extracts probably characterized in a more  
13 robust model like the Hunt study had done, in guinea  
14 pig as opposed to rabbits. That was probably already  
15 available in the literature.

16 It would have also been helpful, really, for  
17 Arbor to maybe determine what is a reinforcing dose of  
18 racemic amphetamine. I think that question was sort of  
19 inferred from the literature. My biggest concern, and  
20 probably to the agency, is just reading through these  
21 human abuse potential studies that -- we've done this  
22 for some of the buprenorphine formulations and some of

1 the other formulations in the past and advisory  
2 committees.

3 Looking through these human abuse potential  
4 studies and measuring drug liking, this is research  
5 done by Marian Fischman and Herb Kleber in the 1980s.  
6 We're still in sort of the 20th century. We know so  
7 much about reward, and drug wanting, and drug craving,  
8 and incentive salience, as opposed to not everybody who  
9 uses a drug and likes a drug is going to use it again  
10 and become a drug addict, for example, or abuse it.

11 I think it will be nice to have some panel  
12 where we could really usher these human abuse potential  
13 studies into the 21st century. Just looking through  
14 this, we can even measure how much dopamine they  
15 release after a compound in the ventral striatum and  
16 see if this is less and is it more. So you can have a  
17 pharmacokinetic readout, a pharmacodynamic readout, and  
18 also a behavioral read out.

19 So I think that's probably something to  
20 demonstrate and give some guidance, based on the  
21 current status of literature, as opposed to going back  
22 to these old paradigms of liking and could be very

1 helpful for industry and also in evaluating it in a  
2 more current research model. That's all I have to say.

3 Are there any other questions or any other  
4 thoughts that people want to chime in? I'm going to  
5 look through this list.

6 I don't see any other raised hands. Does  
7 anybody else have any other closing thoughts? Does the  
8 agency want to provide some thoughts?

9 DR. FIEDOROWICZ: I have my hand up I believe.

10 DR. NARENDRAN: Is this Dr. --

11 DR. FIEDOROWICZ: Fiedorowicz.

12 DR. NARENDRAN: Dr. Fiedorowicz. Go ahead.

13 DR. FIEDOROWICZ: Yes. I just want to make a  
14 brief comment. I do have some concerns about some of  
15 the statements that were made about the idea of having  
16 medication intervention to treat alcohol and substance-  
17 use disorders being problematic. I think that that  
18 idea has been somewhat pervasive and has actually  
19 impeded some people from getting the help and treatment  
20 that they need.

21 I do have some concerns that the result of  
22 this meeting -- I think we all made thoughtful and

1 prudent decisions here in these votes, but I have some  
2 concerns that the results of this meeting may stifle  
3 innovation in this area, and I would not want to see  
4 that happen. That's all. Thank you.

5 DR. NARENDRAN: Thank you.

6 Dr. Zibbell, your hand is raised still.

7 DR. ZIBBELL: Yes. Jon Zibbell, RTI  
8 International. I just wanted to speak to Jess'  
9 comment. I think he was talking to me. But when I  
10 said a chemical response to a chemical problem, I was  
11 talking about ADTs, abuse-deterrent formulations, not  
12 medical-assisted treatment. I just want to be clear on  
13 that. There's evidence that that works. Thanks.

14 DR. FIEDOROWICZ: Thanks for clarifying.

15 DR. NARENDRAN: Dr. Griffin?

16 DR. GRIFFIN: Oh, sorry. I forgot to put my  
17 hand down.

18 DR. NARENDRAN: Okay.

19 Anybody else? I'm looking through.

20 Dr. Calis?

21 DR. CALIS: Yes, just very briefly. Thank you  
22 very much. I think, overall, the intent of the

1 applicant was to highlight a unique feature that would  
2 help distinguish their product from other stimulants.  
3 I think they've actually succeeded in making it  
4 somewhat more difficult, but as we've seen today and  
5 from our discussion, it's certainly not impossible to  
6 manipulate and abuse such a product, and I think that's  
7 where most of the concern rests.

8           There are a number of limitations with the  
9 application as have been noted previously by FDA staff  
10 and others, but I do want to circle back to I think had  
11 we had more ideas about -- and this is very challenging  
12 because it would be difficult to do regardless, but I  
13 think with regards to the safety, particularly with the  
14 excipients and what would happen in a worst-case  
15 scenario, I think if there was more reassurance in that  
16 regard, I think we'd probably all feel maybe quite  
17 differently about this. Thank you.

18           DR. NARENDRAN: Thank you.

19           We don't see any other hands raised. Before  
20 we adjourn, are there any last comments from the  
21 agency? I want to hand it to you guys.

22           (No response.)

1 DR. NARENDRAN: No comments from the agency?

2 DR. FARCHIONE: Sorry. We're conferring on  
3 our Skype.

4 DR. NARENDRAN: Oh, I have to summarize, too.

5 In terms of question number 6, the discussion,  
6 I heard that people were very concerned about the  
7 safety, and the excipients, and the TMA issues were  
8 unresolved, although they felt that some of these  
9 things could have been potentially addressed and could  
10 have alleviated some of the questions that remain.

11 I also heard that it would benefit sponsors if  
12 the agency could provide clear definitions, and clear  
13 thresholds, and clear standards of what is an abuse-  
14 deterrent formulation and how it should unravel so they  
15 can have a clear roadmap if they were to develop this.

16 I also heard some thoughts about maybe abuse  
17 deterrence, a chemical response to a chemical problem  
18 may not be the way to go, and it might be too  
19 complicated because it's very hard to predict what  
20 real-world use could look. There was also some  
21 thoughts about maybe the human abuse potential studies  
22 could be more clarified or use more advanced models

1 that might be available in the literature.

2 With that, I will turn it over to  
3 Dr. Farchione for the agency's closing comments if any.

4 DR. FARCHIONE: Yes. This is Tiffany  
5 Farchione. I think this has been a very interesting  
6 discussion to say the least. There has been a lot of  
7 comments made, some of which were unexpected. You guys  
8 have given us a lot to think about and a lot to try to  
9 incorporate into the remainder of our review prior to  
10 the goal date coming up.

11 So it's certainly very helpful and  
12 informative, and, again, with this being the first  
13 stimulant to try to pursue an abuse-deterrent claim, it  
14 really is unprecedented. I know that we gave you a lot  
15 to wrestle with, so we certainly appreciate your  
16 thoughtful contributions and your patience with the  
17 virtual environment, and, again, it's been very  
18 helpful. Thank you.

19 **Adjournment**

20 DR. NARENDRAN: Thank you.

21 I do want to thank Dr. Bonner, the DFO, as  
22 well as the FDA technical staff who put together such a

1 great platform. I didn't even feel like I was missing  
2 the in-person meeting. So thank you very much, and  
3 with that we can adjourn the meeting. Thank you.

4 DR. BONNER: Thank you.

5 (Whereupon, at 4:38 p.m., the meeting was  
6 adjourned.)

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22