

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH  
  
JOINT MEETING OF THE PSYCHOPHARMACOLOGIC DRUGS  
ADVISORY COMMITTEE (PDAC) AND THE DRUG SAFETY AND  
RISK MANAGEMENT ADVISORY COMMITTEE (DSaRM)

Virtual Meeting

Friday, October 9, 2020

10:00 a.m. to 3:39 p.m.

**Meeting Roster****ACTING DESIGNATED FEDERAL OFFICER (Non-Voting)****LaToya Bonner, PharmD**

Division of Advisory Committee and  
Consultant Management

Office of Executive Programs, CDER, FDA

**PSYCHOPHARMACOLOGIC DRUGS ADVISORY COMMITTEE****MEMBERS (Voting)****Walter S. Dunn, MD, PhD**

Assistant Clinical Professor

University of California Los Angeles (UCLA)

Department of Psychiatry

Director, Mood Disorders Clinic

West Los Angeles Veterans Administration

Medical Center

Los Angeles, California

1     **Jess G. Fiedorowicz, MD, PhD**

2     Head and Chief, Department of Mental Health  
3     The Ottawa Hospital  
4     Professor and Senior Research Chair in Adult  
5     Psychiatry, Department of Psychiatry  
6     University of Ottawa  
7     Ottawa, Ontario

8

9     **Satish Iyengar, PhD**

10    Chair and Professor of Statistics  
11    Department of Statistics  
12    University of Pittsburgh  
13    Pittsburgh, Pennsylvania

14

15    **Felipe A. Jain, MD**

16    Assistant Professor of Psychiatry  
17    Harvard Medical School  
18    Director of Healthy Aging Studies  
19    Depression Clinical and Research Program  
20    Massachusetts General Hospital  
21    Boston, Massachusetts

22

1     **Jessica J. Jeffrey, MD, MPH, MBA**

2     Assistant Clinical Professor of Psychiatry

3     Associate Director, Division of Population

4     Behavioral Health

5     Nathanson Family Resilience Center

6     UCLA Semel Institute of Neuroscience and

7     Human Behavior

8     Los Angeles, California

9

10    **Sonia L. Krishna, MD, FAPA, DFAACAP**

11    Affiliate Faculty, Department of Psychiatry

12    Dell Medical School

13    The University of Texas at Austin

14    Austin, Texas

15

16

17

18

19

20

21

22

1     **Rajesh Narendran, MD**

2     *(Chairperson)*

3     Attending Psychiatrist

4     Re:solve Crisis Network

5     Western Psychiatric Institute and Clinics

6     Associate Professor in Radiology and Psychiatry

7     Psychiatric Molecular Imaging Program

8     University of Pittsburgh

9     Pittsburgh, Pennsylvania

10

11     **Patrick S. Thomas, Jr., MD, PhD**

12     Assistant Professor, Department of Psychiatry

13     Baylor College of Medicine, Menninger Clinic

14     Houston, Texas

15

16     **Kim O. Witczak**

17     *(Consumer Representative)*

18     Co-Founder, Executive Director

19     Woodymatters

20     Minneapolis, Minnesota

21

22

1       **DRUG SAFETY AND RISK MANAGEMENT ADVISORY COMMITTEE**

2       **MEMBERS (Voting)**

3       **Denise M. Boudreau, PhD, RPh**

4       Senior Scientific Investigator

5       Kaiser Permanente Health Research Institute

6       Kaiser Permanente Washington

7       Professor (Affiliate)

8       Departments of Pharmacy and Epidemiology

9       University of Washington

10      Seattle, Washington

11

12      **Karim Anton Calis, PharmD, MPH, FASHP, FCCP**

13      Director of Clinical Research and Compliance

14      Office of the Scientific Director

15      Eunice Kennedy Shriver National Institute of

16      Child Health and Human Development

17      National Institutes of Health

18      Bethesda, Maryland

19

20

21

22

1     **Steven B. Meisel, PharmD, CPPS**

2     System Director of Medication Safety

3     M Health Fairview

4     Minneapolis, Minnesota

5

6     **DRUG SAFETY AND RISK MANAGEMENT ADVISORY COMMITTEE**

7     **MEMBER (Non-Voting)**

8     **Reema J. Mehta, PharmD, MPH**

9     *(Industry Representative)*

10    Senior Director, Head of Risk Management and

11    Safety Surveillance Research

12    Pfizer, Inc.

13    North Peapack, New Jersey

14

15

16

17

18

19

20

21

22

1       **TEMPORARY MEMBERS (Voting)**

2       **Maryann Amirshahi, PharmD, MD, MPH, PhD**

3       Associate Professor of Emergency Medicine

4       Georgetown University School of Medicine

5       Department of Emergency Medicine

6       MedStar Washington Hospital Center

7       Medical Toxicologist

8       National Capital Poison Center

9       Washington, District of Columbia

10

11       **Amy S.B. Bohnert, PhD, MHS**

12       Investigator

13       VA Center for Clinical Management Research

14       Associate Professor of Anesthesiology and

15       Psychiatry

16       University of Michigan

17       Ann Arbor, Michigan

18

19

20

21

22

1     **Erin E. Krebs, MD, MPH**

2     Chief of General Internal Medicine

3     Minneapolis VA Health Care System

4     Professor of Medicine

5     University of Minnesota

6     Minneapolis, Minnesota

7

8     **Matthew Racher, BA, CRPS**

9     *(Patient Representative)*

10    Certified Recovery Peer Specialist, Mental

11    Health Advocate

12    Peer Specialist at Jackson Behavioral Health

13    Hospital

14    Miami, Florida

15

16

17

18

19

20

21

22

1 **Kevin L. Zacharoff, MD, FACIP, FACPE, FAAP**

2 Faculty and Clinical Instructor

3 Course Director Pain and Addiction

4 Distinguished Visiting Scholar in Medical

5 Humanities, Compassionate Care, and Bioethics

6 Renaissance School of Medicine at Stony Brook

7 University

8 Consultant to the Ethics Committee

9 Stony Brook University Hospital

10 Stony Brook, New York

11 Ethics Committee Chair

12 St. Catherine of Siena Medical Center

13 Smithtown, New York

14

15 **FDA PARTICIPANTS (Non-Voting)**

16 **Billy Dunn, MD**

17 Director (Acting)

18 Office of Neuroscience (ON)

19 Office of New Drugs (OND), CDER, FDA

20

21

22

1     **Eric Bastings, MD**

2     Deputy Director (Acting)

3     ON, OND, CDER, FDA

4

5     **Tiffany R. Farchione, MD**

6     Director (Acting)

7     Division of Psychiatry (DP)

8     ON, OND, CDER, FDA

9

10    **Bernard Fischer, MD**

11    Deputy Director (Acting)

12    DP, ON, OND, CDER, FDA

13

14    **Judy Staffa, PhD, RPh**

15    Associate Director for the Public Health

16    Initiatives

17    Office of Surveillance and Epidemiology (OSE)

18    CDER, FDA

19

20    **Cathy Southammakosane, MD**

21    Clinical Reviewer

22    DP, ON, OND, CDER, FDA

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Jana McAninch, MD, MPH, MS**

Senior Medical Epidemiologist, Nonmedical Use

Teams

Division of Epidemiology II

Office of Pharmacovigilance and Epidemiology (OPE)

OSE, CDER, FDA

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

C O N T E N T S

AGENDA ITEM	PAGE
Call to Order	
Rajesh Narendran, MD	14
Introduction of Committee	
LaToya Bonner, PharmD	14
Conflict of Interest Statement	
LaToya Bonner, PharmD	24
FDA Opening Remarks	
Bernard Fischer, MD	28
<b>Applicant Presentation - Alkermes, Inc.</b>	
Summary Presentation	
Sarah Akerman, MD	37
Clarifying Questions to Applicant	57
Clarifying Questions to FDA	94
<b>Open Public Hearing</b>	122
Questions to the Committee and Discussion	184
Adjournment	249

P R O C E E D I N G S

(10:02 a.m.)

**Call to Order**

DR. NARENDRAN: Good morning and welcome.

First, I would like to remind everyone to please mute your line when you're not speaking. For media and press, the FDA press contact is Lindsey O'Keefe. Her email and phone number are currently displayed. We'll wait for it to be displayed.

There it is.

My name is Dr. Raj Narendran. I will be chairing today's meeting. I will now call the October 9, 2020 Joint Meeting of the Psychopharmacological Drug Advisory Committee and the Drug Safety and Risk Management Advisory Committee meeting to order. Dr. LaToya Bonner is the designated federal officer for today's meeting and will begin with the introductions. I will pass it on to Dr. Bonner.

**Introduction of Committee**

DR. BONNER: Good morning. My name is LaToya Bonner. I am the designated federal officer

1 for today's meeting. For the record, all voting  
2 members have confirmed via email that they have  
3 used the prerecorded presentation for today's  
4 meeting in their entirety.

5 I will now proceed forward with the  
6 introductions. When I call your name, please  
7 introduce yourself by stating your name and  
8 affiliation. Please also state for the record that  
9 you have viewed the FDA and Alkermes, Incorporated  
10 prerecorded presentation in their entirety. We  
11 will start with Dr. Dunn. Please introduce  
12 yourself into the record.

13 DR. W. DUNN: This is Dr. Walter Dunn. I am  
14 an assistant clinical professor at UCLA. I confirm  
15 that I have viewed the presentations.

16 DR. BONNER: Next is Dr. Fiedorowicz

17 DR. FIEDOROWICZ: Yes. This is Jess  
18 Fiedorowicz. I'm professor of psychiatry at the  
19 University of Ottawa with an adjunct appointment at  
20 the University of Iowa. I have reviewed the  
21 presentations.

22 DR. BONNER: Thank you, sir.

1 Dr. Iyengar?

2 DR. IYENGAR: My name is Satish Iyengar.  
3 I'm from the statistics department at the  
4 University of Pittsburgh, and I also confirm that I  
5 have viewed everything.

6 DR. BONNER: Dr. Jain?

7 DR. JAIN: Good morning. This is Dr. Felipe  
8 Jain. I'm a psychiatrist at Massachusetts General  
9 Hospital and assistant professor at Harvard Medical  
10 School. I confirm I have reviewed the  
11 presentations.

12 DR. BONNER: Thank you, sir.

13 Dr. Jeffrey?

14 DR. JEFFREY: Good morning. This is  
15 Dr. Jessica Jeffrey. I'm an assistant professor of  
16 child and adolescent psychiatry at UCLA. I confirm  
17 that I viewed all presentations in their entirety.

18 DR. BONNER: Thank you.

19 Dr. Krishna?

20 DR. KRISHNA: Hello. This is Dr. Sonia  
21 Krishna. I'm a child adolescent and adult  
22 psychiatrist and affiliate faculty at Dell Medical

1 School at the University of Texas, Austin. I  
2 confirm I have viewed the presentations in their  
3 entirety. Thank you.

4 DR. BONNER: Thanks.

5 Dr. Narendran?

6 DR. NARENDRAN: This is Dr. Raj Narendran.  
7 I'm a psychiatrist at UPMC. I'm also a professor  
8 in the Department of Radiology and Psychiatry at  
9 the University of Pittsburgh. I confirm for the  
10 record that I've viewed the presentations provided  
11 by the agency, as well as Alkermes.

12 DR. BONNER: Thank you, sir.

13 Dr. Thomas?

14 DR. THOMAS: Hello. This is Dr. Patrick  
15 Thomas. I'm a psychiatrist at the Menninger Clinic  
16 and assistant professor at Baylor College of  
17 Medicine, and I confirm I have reviewed the  
18 presentations.

19 DR. BONNER: Thank you, sir.

20 Ms. Kim Witczak?

21 MS. WITCZAK: Good morning. Kim Witczak  
22 from Woodymatters. I'm the consumer representative

1 on the committee, and I confirm that I have  
2 reviewed all the materials provided by the FDA and  
3 the sponsor.

4 DR. BONNER: Thank you, ma'am.

5 Next we'll have Dr. Boudreau.

6 DR. BOUDREAU: Good morning. Denise  
7 Boudreau, scientific investigator at Kaiser  
8 Permanente Washington and also affiliate professor  
9 at the University of Washington, and I confirm that  
10 I've reviewed the FDA and sponsor material.

11 DR. BONNER: Thank you.

12 Dr. Calis?

13 DR. CALIS: Good morning. This is Karim  
14 Calis. I'm director of clinical research and  
15 compliance with the National Institute of Child  
16 Health and Human Development at NIH, and I'm also  
17 chair of the NIH Intramural IRP. I affirm that I  
18 reviewed all of the material and the presentations.

19 DR. BONNER: Thank you.

20 Dr. Meisel?

21 DR. MEISEL: Good morning. Steve Meisel. I  
22 am the director of medication safety for M Health

1 Fairview, an integrated health system based in  
2 Minneapolis, and I confirm I've reviewed the  
3 materials.

4 DR. BONNER: Thank you, sir.

5 Dr. Mehta?

6 DR. MEHTA: Hi --

7 DR. BONNER: I can hear you.

8 DR. MEHTA: Hi. This is Reema Mehta. I am  
9 head of risk management and safety surveillance  
10 research at Pfizer, a nonvoting industry rep, and I  
11 confirm as well.

12 DR. BONNER: Thank you, ma'am.

13 Next is Dr. Amirshahi.

14 DR. AMIRSHAHI: Good morning. Maryann  
15 Amirshahi. I'm an emergency medicine physician,  
16 medical toxicologist, and clinical pharmacologist.  
17 I'm associate professor of emergency medicine at  
18 Georgetown University School of Medicine and I  
19 practice at Washington Hospital Center and the  
20 National Capital Poison Center. I confirm that I  
21 have reviewed the presentations in their entirety.

22 DR. BONNER: Thank you, ma'am.

1 Dr. Bohnert?

2 DR. BOHNERT: Hi. I'm Amy Bohnert. I'm an  
3 investigator with the Department of Veterans  
4 Affairs Health Services, Research and Development.  
5 I'm also an associate professor with the Department  
6 of Anesthesiology and Psychiatry at the University  
7 of Michigan, epidemiologist by training, and I have  
8 read all of the materials. Sorry. I have reviewed  
9 all of the videos in their entirety.

10 DR. BONNER: Thank you, ma'am.

11 Dr. Krebs?

12 DR. KREBS: Good morning. Erin Krebs. I'm  
13 chief of general internal medicine and a health  
14 services researcher at the Minneapolis VA Health  
15 Care System, and professor of medicine at the  
16 University of Minnesota. My research focuses on  
17 chronic pain and opioid management. I confirm that  
18 I have viewed the presentations in their entirety.

19 DR. BONNER: Thank you.

20 Mr. Racher?

21 MR. RACHER: Good morning. This is Matthew  
22 Racher, certified recovery peer specialist and

1 patient representative. I confirm that I have  
2 viewed the presentations in their entirety.

3 DR. BONNER: Thank you, sir.

4 Dr. Zacharoff?

5 DR. ZACHAROFF: Hi. Good morning. My name  
6 is Kevin Zacharoff. My areas of expertise are  
7 anesthesiology, pain, and substance-use disorders.  
8 I am the course director of pain and addiction,  
9 faculty and clinical instructor at the Renaissance  
10 School of Medicine at Stony Brook University, and I  
11 confirm that I have viewed all the materials  
12 provided.

13 DR. BONNER: Thank you, sir.

14 We will now move to our FDA participants.

15 Dr. Dunn?

16 DR. B. DUNN: Yes. Good morning. This is  
17 Dr. Billy Dunn. I direct the Office of  
18 Neuroscience at the FDA.

19 DR. BONNER: Thank you, sir.

20 Dr. Bastings?

21 DR. BASTINGS: Good morning. This is  
22 Dr. Eric Bastings. I am acting deputy director of

1 the Office of Neuroscience, FDA.

2 DR. BONNER: Thank you, sir.

3 Dr. Farchione?

4 DR. FARCHIONE: Hi. This is Tiffany  
5 Farchione. I'm the acting director of the Division  
6 of Psychiatry here at FDA.

7 DR. BONNER: Next is Dr. Fischer.

8 DR. FISCHER: Hi. I'm Bernie Fisher, acting  
9 deputy for Division of Psychiatry at FDA.

10 DR. BONNER: Thank you.

11 Dr. Staffa?

12 DR. STAFFA: Good morning. Judy Staffa,  
13 associate director for Public Health Initiatives in  
14 the Office of Surveillance and Epidemiology at FDA.

15 DR. BONNER: Thank you, ma'am.

16 DR. BONNER: Next is Dr. Southammakosane.

17 DR. SOUTHAMMAKOSANE: Hi. Cathy  
18 Southammakosane. I'm a medical officer in the  
19 Division of Psychiatry.

20 DR. BONNER: Thank you.

21 And last will be Dr. McAninch.

22 DR. McANINCH: Hi. Jana McAninch. I'm the

1 senior medical epidemiologist for the nonmedical  
2 use teams in the Division of Epidemiology in OSE,  
3 CDER, FDA. Thanks.

4 DR. BONNER: Thank you.

5 I will now turn the meeting back to our  
6 chair, Dr. Narendran.

7 DR. NARENDRAN: Thank you.

8 For topics such as those being discussed at  
9 today's meeting, there are often a variety of  
10 opinions, some of which are quite strongly held.  
11 Our goal is that today's meeting will be a fair and  
12 open forum for discussion of these issues and that  
13 individuals can express their views without  
14 interruption. Thus, as a gentle reminder,  
15 individuals will be allowed to speak into the  
16 record only if recognized by the chairperson. We  
17 look forward to a productive meeting.

18 In the spirit of the Federal Advisory  
19 Committee Act and the Government in the Sunshine  
20 Act, we ask that the advisory committee members  
21 take care that their conversations about the topic  
22 at hand take place in the open forum of the

1 meeting. We are aware that members of the media  
2 are anxious to speak with the FDA about these  
3 proceedings, however, FDA will refrain from  
4 discussing the details of the meeting with the  
5 media until its conclusion. Also, the committee is  
6 reminded to please refrain from discussing the  
7 meeting topic during breaks or lunch. Thank you.

8 I will now transfer it to Dr. Bonner, who  
9 will read the Conflict of Interest Statement for  
10 the meeting.

11 **Conflict of Interest Statement**

12 DR. BONNER: Thank you, sir.

13 The Food and Drug Administration is  
14 convening today's joint meeting of the  
15 Psychopharmacologic Drug Advisory Committee and the  
16 Drug Safety and Risk Management Advisory Committee  
17 under the authority of the Federal Advisory  
18 Committee Act, FACA, of 1972. With the exception  
19 of the industry representative, all members and  
20 temporary voting members of the committees are  
21 special government employees or regular federal  
22 employees from other agencies and are subject to

1 federal conflict of interest laws and regulations.

2 The following information on the status of  
3 the committees' compliance with federal ethics and  
4 conflict of interest laws, covered by but not  
5 limited to those found at 18 U.S.C. Section 208, is  
6 being provided to participants in today's meeting  
7 and to the public.

8 FDA has determined that members and  
9 temporary voting members of the committees are in  
10 compliance with federal ethics and conflict of  
11 interest laws. Under 18 U.S.C. Section 208,  
12 Congress has authorized FDA to grant waivers to  
13 special government employees and regular federal  
14 employees who have potential financial conflicts  
15 when it is determined that the agency's need for a  
16 special government employee's services outweighs  
17 his or her potential financial conflict of interest  
18 or when the interest of a regular federal employee  
19 is not so substantial as to be deemed likely to  
20 affect the integrity of the services which the  
21 government may expect from the employee.

22 Related to the discussion of today's

1 meeting, members and temporary voting members of  
2 the committees have been screened for potential  
3 financial conflicts of interest of their own as  
4 well as those imputed to them, including those of  
5 their spouses or minor children and, for purposes  
6 of 18 U.S.C. Section 208, their employers. These  
7 interests may include investments; consulting;  
8 expert witness testimony; contracts, grants,  
9 CRADAs; teaching, speaking, writing; patents and  
10 royalties; and primary employment.

11 Today's agenda involves discussion of the  
12 efficacy, safety, and benefit-risk profile of new  
13 drug application 213378, olanzapine/samidorphan  
14 oral tablets, submitted by Alkermes, Incorporated,  
15 for the proposed indications of schizophrenia and  
16 bipolar I disorder. This is a particular matters  
17 meeting during which specific matters related to  
18 Alkermes' NDA will be discussed.

19 Based on the agenda for today's meeting and  
20 all financial interests reported by the committee  
21 members and temporary voting members, no conflict  
22 of interest waivers have been issued in connection

1 with this meeting. To ensure transparency, we  
2 encourage all standing committee members and  
3 temporary voting members to disclose any public  
4 statements that they have made concerning the  
5 product at issue.

6 With respect to FDA's invited industry  
7 representative, we would like to disclose that  
8 Dr. Reema Mehta is participating in today's meeting  
9 as a nonvoting industry representative, acting on  
10 behalf of regulated industry. Dr. Mehta's role in  
11 this meeting is to represent industry in general  
12 and not any particular company. Dr. Mehta is  
13 employed by Pfizer

14 We would like to remind members and  
15 temporary voting members that if the discussions  
16 involve any other products or firms not already on  
17 the agenda for which an FDA participant has a  
18 personal or imputed financial interest, the  
19 participants need to exclude themselves from such  
20 involvement and their exclusion will be noted for  
21 the record. FDA encourages all other participants  
22 to advise the committees of any financial

1 relationships that they may have with the firm at  
2 issue.

3 Thank you. I will now turn the meeting back  
4 over to the chair.

5 DR. NARENDRAN: Thank you. We will now  
6 proceed with FDA's opening remarks from Dr. Bernie  
7 Fischer.

8 **FDA Opening Remarks - Bernard Fischer**

9 DR. FISCHER: Good morning, and welcome to  
10 this joint meeting of the Psychopharmacologic Drug  
11 Advisory Committee and the Drug Safety and Risk  
12 Management Advisory Committee. The purpose of this  
13 meeting is to discuss a new drug application  
14 submitted by Alkermes, Incorporated for ALKS 3831,  
15 a fixed-dose combination product of the  
16 antipsychotic olanzapine and samidorphan, an opioid  
17 receptor antagonist that is a new molecular entity.  
18 The samidorphan in this combination product is  
19 intended to mitigate olanzapine-associated weight  
20 gain.

21 The application for ALKS 3831 was submitted  
22 under the 505(b)(2) pathway. Under this pathway,

1 an applicant is able to rely on FDA's previous  
2 findings of safety and effectiveness for an  
3 approved product to support their own application.  
4 In this case, the applicant has conducted a  
5 relative bioavailability study comparing ALKS 3831  
6 to an approved form of olanzapine. The relative  
7 bioavailability study allowed the applicant to  
8 propose ALKS 3831 for the same schizophrenia and  
9 bipolar indications as olanzapine.

10 During development, FDA advised the  
11 applicant to provide evidence that the addition of  
12 samidorphan does not interfere with the efficacy of  
13 olanzapine and to demonstrate their product's  
14 weight mitigation effect in a dedicated study. We  
15 recommended that the weight mitigation study be at  
16 least 6 months long.

17 We also recommended two co-primary  
18 endpoints, the percent change from baseline and  
19 body weight and the proportion of subjects with at  
20 least 10 percent weight gain from baseline. We  
21 also indicated to the applicant that in addition to  
22 the effect on weight gain, FDA would consider

1 changes in metabolic laboratory parameters in the  
2 review of their application.

3 The applicant's development program included  
4 a phase 2 proof-of-concept study, Study A302; a  
5 6-month weight mitigation study, Study A303, which  
6 was conducted according to FDA recommendations; and  
7 a 4-week antipsychotic efficacy study, Study A305.  
8 The program also included two 12-month open-label  
9 extension studies, one each for studies A303 and  
10 A305. The details of these studies are provided in  
11 the background document and were reviewed in the  
12 agency's presentation.

13 As discussed in our background document and  
14 presentation, Study A305 supports the conclusion  
15 that samidorphan does not meaningfully impair  
16 olanzapine's efficacy. Although this study only  
17 enrolled people with schizophrenia, we have no  
18 reason to believe the samidorphan component would  
19 uniquely interfere with olanzapine's efficacy for  
20 bipolar disorder. Therefore, in conjunction with  
21 the relative bioavailability data mentioned  
22 previously, we believe the applicant has

1 demonstrated that ALKS 3831 would be effective in  
2 treating schizophrenia and bipolar disorder.

3           Regarding Study A303, the pivotal weight  
4 mitigation study, both co-primary endpoints were  
5 statistically significant. The mean change from  
6 baseline and weight between the ALKS 3831 and the  
7 olanzapine groups at week 24 was  
8 negative 2.38 percent. The proportion of subjects  
9 with a weight gain of 10 percent or more from  
10 baseline at week 24 was approximately 18 percent in  
11 the ALKS 3831 group and 30 percent in the  
12 olanzapine group.

13           Waist circumference, a prespecified  
14 secondary endpoint, was significantly different  
15 between the two groups and favored ALKS 3831.  
16 There was also a nominal difference in systolic  
17 blood pressure favoring ALKS 3831. However, there  
18 were no meaningful differences in metabolic  
19 laboratory values between ALKS 3831 and olanzapine,  
20 and there is a suggestion, as detailed in our  
21 background document, that blood glucose parameters  
22 may be less favorable with ALKS 3831. Finally,

1       there were no differences in measures of quality of  
2       life between the two groups.

3               FDA requested long-term, follow-up studies  
4       to ensure that any weight mitigation observed  
5       during Study A303 was not merely a delay of weight  
6       gain; that is that the initial weight mitigation  
7       effect continued beyond the acute trials. We did  
8       not ask the applicant to include an olanzapine arm  
9       in these studies, so comparisons can only be made  
10      via cross-study looks at historical data, which is  
11      inherently limited. Nevertheless, we did not see a  
12      late excess of weight gain in either of the  
13      long-term studies.

14              In general, the adverse event profiles of  
15      ALKS 3831 and olanzapine were very similar,  
16      however, the samidorphan component does confer  
17      additional risk. Because samidorphan is an opioid  
18      antagonist, it can precipitate withdrawal in  
19      patients who are physically dependent on opioids.  
20      A patient taking samidorphan was hospitalized for  
21      precipitated withdrawal during ALKS 3831  
22      development.

1           Samidorphan may block opioid-related  
2 analgesia when medically necessary or prevent a  
3 high in people with an opioid-use disorder. In  
4 these latter situations, there's a hypothetical  
5 risk of overdose if the patient tries to overcome  
6 this blockade. A patient taking ALKS 3831 was  
7 hospitalized for opioid overdose during product  
8 development, although it's unclear if this event  
9 was actually connected to the samidorphan.

10           As detailed in our background document,  
11 epidemiologic data support the potential risk of  
12 samidorphan. Some of what would be the indicated  
13 populations have a higher rate of opioid use  
14 compared to the general population, and despite  
15 contraindications in labeling, opioids and products  
16 containing the opioid antagonist naltrexone are  
17 frequently co-prescribed.

18           FDA agrees with the applicant and many  
19 public comments that addressing medication-induced  
20 weight gain is important. However, FDA would like  
21 to remind today's audience that ALKS 3831 is not  
22 intended as a weight-loss treatment. In other

1 words, ALKS 3831 is not intended to treat people  
2 who have already gained weight on olanzapine, and  
3 neither would ALKS 3831 prevent all of the weight  
4 gain associated with olanzapine.

5 Most people taking ALKS 383 also gained  
6 weight, albeit less than with olanzapine. What the  
7 applicant has demonstrated is that in people who  
8 are not currently taking olanzapine, starting  
9 ALKS 3831 instead of olanzapine may prevent some of  
10 the weight gain that a person might have otherwise  
11 experienced with olanzapine alone.

12 In reviewing this application, FDA is  
13 considering several issues. One is whether the  
14 degree of this statistically significant weight  
15 mitigation was robust enough to be clinically  
16 meaningful. We are also considering why olanzapine  
17 mediated weight gain is an important safety issue  
18 and whether mitigation of weight gain in the  
19 absence of a clear effect on metabolic parameters  
20 addresses the safety issue. We are also  
21 considering the apparent benefits of the product,  
22 taking into account the opioid antagonist risks of

1 the samidorphan component.

2 After viewing presentations by the applicant  
3 and the agency, as well as considering comments  
4 from the public, the committees will address these  
5 questions and points of discussion. Number 1, has  
6 the applicant presented adequate evidence that  
7 samidorphan meaningfully mitigates  
8 olanzapine-associated weight gain?

9 Number 2, has the applicant adequately  
10 characterized the safety profile of ALKS 3831?  
11 Number 3, is the labeling sufficient to mitigate  
12 the risks related to the opioid antagonist action  
13 of samidorphan? And number 4, what, if any  
14 additional data, are needed to address outstanding  
15 issues?

16 Finally, on a practical note, we have a  
17 large group of multidisciplinary scientists and FDA  
18 leadership involved in this meeting. There may be  
19 slight delays in our responses to the committees as  
20 we confer virtually to make sure that we identify  
21 the optimal respondent to any questions asked, so  
22 thank you in advance for your patience. With that,

1 I will turn it back over to the meeting chair.

2 DR. NARENDRAN: Thank you, Dr. Fischer.

3 Both the Food and Drug Administration and  
4 the public believe in a transparent process for  
5 information gathering and decision-making. To  
6 ensure such transparency at the advisory committee  
7 meeting, FDA believes that it is important to  
8 understand the context of an individual's  
9 presentation.

10 For this reason, FDA encourages all  
11 participants, including Alkermes' non-employee  
12 presenters, to advise the committee of any  
13 financial relationships that they may have with the  
14 applicant such as consulting fees, travel expenses,  
15 honoraria, and interest in the applicant, including  
16 equity interest, and those based upon the outcome  
17 of the meeting.

18 Likewise, FDA encourages you at the  
19 beginning of your presentation to advise the  
20 committee if you do not have any financial  
21 relationships. If you choose not to address this  
22 issue of financial relationships at the beginning

1 of your presentation, it will not preclude you from  
2 speaking.

3 We will now proceed with Alkermes' summary  
4 presentation. I'll hand it over to Alkermes.

5 **Applicant Presentation - Sarah Akerman**

6 DR. AKERMAN: Good morning to the chair,  
7 members of the advisory committees, the agency, and  
8 other attendees. My name is Sarah Akerman, and I'm  
9 a senior medical director at Alkermes and a  
10 psychiatrist with board certification in psychiatry  
11 and addiction.

12 We're pleased to be speaking with you today  
13 about olanzapine/samidorphan, also referred to by  
14 the abbreviation OLZ/SAM, for which we are seeking  
15 indication for schizophrenia and bipolar I  
16 disorder. I will be presenting today a summary of  
17 the video presentation and briefing document. No  
18 new data will be presented in this summary.

19 The basis for the development of OLZ/SAM is  
20 grounded in the fact that olanzapine is a highly  
21 effective antipsychotic. FDA approved since 1996  
22 under the brand name Zyprexa, the field has

1 extensive clinical experience with olanzapine. And  
2 while it's highly effective, it has the very  
3 concerning side effect of weight gain, which is  
4 limited [inaudible - audio fades].

5 The majority of patients do gain weight on  
6 olanzapine, and this is -- for some individuals,  
7 approximately 60 percent -- clinically significant  
8 weight gain, which not only impacts their quality  
9 of life but leads over time [inaudible], as well as  
10 increased morbidity and mortality.

11 There are currently no FDA-approved  
12 pharmacological treatments to suspend  
13 [indiscernible] or treat antipsychotic associated  
14 weight gain, and as you heard from Dr. Rene Kahn  
15 and Dr. Ginger Nicol in the recorded presentation,  
16 prescribers are at times faced with the difficult  
17 decision of switching [inaudible] -- antipsychotic  
18 efficacy, which carries inherent risks for the  
19 patient.

20 Samidorphan is an opioid receptor antagonist  
21 or blocker. The opioid system has been shown to  
22 play a role in food reward and metabolism, so our

1 approach adds samidorphan to olanzapine, and as  
2 you've seen in our clinical data, samidorphan  
3 mitigates or prevents olanzapine-associated weight  
4 gain while maintaining its known and antipsychotic  
5 efficacy.

6 The proposed indications and therapeutic  
7 range for OLZ/SAM would be consistent with the  
8 Zyprexa prescribing information, with the addition  
9 of a fixed dose of 10 milligrams of samidorphan to  
10 mitigate weight gain. We are not seeking an  
11 indication for weight mitigation.

12 At the end of our phase 2 program, we  
13 aligned with the agency on the phase 3 registration  
14 package and included two studies, both in  
15 schizophrenia. The first was an antipsychotic  
16 efficacy study comparing OLZ/SAM to placebo with an  
17 olanzapine active control, and the second was a  
18 weight mitigation, also referred to as a weight  
19 efficacy study, comparing OLZ/SAM and olanzapine  
20 with endpoints that were specifically chosen to  
21 demonstrate clinically meaningful changes in  
22 weight.

1           The data that we'll be focusing on today is  
2           in patients with schizophrenia. We are also  
3           seeking an indication for bipolar I disorder both  
4           as a monotherapy and as an adjunct to lithium and  
5           valproate, consistent with the current indications  
6           [inaudible].

7           To support this bipolar indication, we align  
8           with the FDA on a bridging strategy. The strategy  
9           relies in part on the established efficacy of  
10          olanzapine in bipolar I disorder, as well as two  
11          necessary components, which we accomplished; first,  
12          establishing that the olanzapine exposure within  
13          OLZ/SAM is bioequivalent to Zyprexa, and second,  
14          demonstrating similar antipsychotic efficacy to  
15          olanzapine in the schizophrenia program. We also  
16          established that there were no drug-drug  
17          interactions with lithium or valproate.

18          In addition to the phase 3 studies, we've  
19          conducted an extensive safety and efficacy  
20          evaluation with 27 clinical studies, including  
21          three long-term, open-label studies evaluating up  
22          to three and a half years of OLZ/SAM treatment.

1 I'll describe the phase 3 studies in more detail,  
2 the first of which is the antipsychotic efficacy,  
3 or 305 study, which met its primary and key  
4 secondary endpoints.

5 The efficacy of olanzapine alone is well  
6 known to the field. The antipsychotic efficacy of  
7 OLZ/SAM was established in a 4-week, double-blind,  
8 randomized, placebo-controlled study in acutely ill  
9 patients with schizophrenia, comparing OLZ/SAM to  
10 placebo with olanzapine added as an active control.  
11 The primary endpoint was the change from baseline  
12 and positive and negative syndrome scale total  
13 score at week 4 for OLZ/SAM compared to placebo.

14 This figure represents the primary outcome  
15 measure of PANSS total score with change from  
16 baseline on the Y-axis and visits and weeks on the  
17 X-axis. OLZ/SAM Sam is in blue, olanzapine in  
18 orange, and placebo in gray. A decrease in PANSS  
19 score indicates symptom improvement, and at the end  
20 of 4 weeks, we saw a significantly greater  
21 improvement in PANSS total score for patients  
22 treated with OLZ/SAM compared to placebo.

1           A similar improvement is seen in the  
2           olanzapine treatment arm as expected. The key  
3           secondary endpoint of change from baseline in CGI-S  
4           score demonstrated similar findings to the primary  
5           endpoint. Long-term improvements in PANSS and CGI-  
6           S scores were also observed in open-label studies  
7           with over 76 weeks of treatment with OLZ/SAM.

8           In this study, we demonstrated the  
9           antipsychotic efficacy of OLZ/SAM compared to  
10          placebo. This efficacy was similar to what is  
11          known for olanzapine and was also demonstrated  
12          across multiple phase 2 and phase 3 studies, as  
13          well as in long-term safety studies. This leads to  
14          the conclusion that OLZ/SAM has retained the known  
15          antipsychotic efficacy of olanzapine, supporting  
16          the indications of both schizophrenia and bipolar I  
17          disorder.

18          The second phase 3 study is the weight  
19          efficacy study, which also met its co-primary and  
20          key secondary endpoint. The effects of OLZ/SAM on  
21          weight were examined in a 24-week, double-blind,  
22          randomized controlled study in stable outpatients

1 with schizophrenia. 561 patients with body mass  
2 index between 18 and 30 were randomized to  
3 treatment with OLZ/SAM or olanzapine. One  
4 co-primary endpoint examined the percent change  
5 from baseline and body weight at week 24.

6 The other co-primary endpoint examined the  
7 proportion of patients gaining greater than or  
8 equal to 10 percent of their body weight. This  
9 10 percent cutoff was chosen, as this signifies a  
10 clinically meaningful amount of weight gain for a  
11 patient. For instance, in a person weighing  
12 170 pounds, this represents 17 pounds in weight  
13 gain over just 24 weeks. The key secondary  
14 endpoint examined the 7 percent cutoff, as this is  
15 widely used in psychiatry studies and antipsychotic  
16 labeling.

17 The co-primary and key secondary endpoints  
18 were met in the study with p-values listed here in  
19 the right-hand most column. The difference in  
20 percent change in weight reflects the mean change  
21 in the whole population of the study, and this  
22 difference is 2.38 percent, which was significantly

1 different from olanzapine. For patients gaining  
2 greater than or equal to 10 percent of their body  
3 weight, the risk difference between OLZ/SAM and  
4 olanzapine was 13.7 percent, and for the 7 percent  
5 cutoff, it was 15.9 percent, both values, again,  
6 being significantly different from olanzapine.

7 This figure represents the co-primary  
8 endpoint of percent change from baseline and body  
9 weight by week with study weeks on the X-axis and  
10 the percent change from baseline and weight on the  
11 Y-axis. At the end of the 24 weeks, the percent  
12 change in body weight with OLZ/SAM is significantly  
13 lower than olanzapine. There was some initial  
14 weight gain with OLZ/SAM, which stabilized from  
15 4 weeks on and was stable for the remainder of the  
16 study.

17 The weight gain with olanzapine alone  
18 continued throughout the 24 weeks, and the  
19 difference between the treatment groups continued  
20 to grow, resulting in a very different trajectory  
21 for OLZ/SAM than what is known to occur with  
22 olanzapine. The long-term stability of weight with

1 OLZ/SAM is seen in patients continuing treatment  
2 for 76 weeks in the extension study.

3 Next are the categorical co-primary and key  
4 secondary endpoints. First to the table on the  
5 left, OLZ/SAM led to a 50 percent reduction in the  
6 risk of gaining clinically meaningful weight,  
7 defined as gaining either greater than or equal to  
8 10 or 7 percent of body weight.

9 The figure on the right is the cumulative  
10 responder plot with the cumulative percentage of  
11 patients on the Y-axis and the percent change from  
12 baseline and body weight at week 24 for all  
13 patients. You can see that the OLZ/SAM curve in  
14 blue is consistently shifted to the left of the  
15 olanzapine curve in orange. This shows us that  
16 OLZ/SAM reduced the risk of weight gain compared to  
17 olanzapine no matter how clinically meaningful is  
18 defined along this curve.

19 The widest separation points between these  
20 curves is at the important cutoff points of 10 and  
21 7 percent, and this indicates that the risk  
22 reduction with OLZ/SAM treatment is more pronounced

1 in those patients who are most susceptible to the  
2 greatest weight gain associated with olanzapine.

3 This 50 percent reduction in risk is not  
4 only seen in the weight data, again shown here on  
5 the left, but also seen in waist circumference and  
6 systolic blood pressure. The figure in the center  
7 is showing the exploratory endpoint of change from  
8 baseline and waist circumference for OLZ/SAM in  
9 blue and olanzapine in orange over 24 weeks.

10 Waist circumference is an accepted proxy for  
11 central adiposity, and central fat is a major  
12 driver of downstream metabolic impairment. Similar  
13 to the changes in weight at 24 weeks, there were  
14 lower increases in waist circumference for OLZ/SAM.

15 The differences in waist circumference  
16 occurred earlier than the changes in weight,  
17 suggesting that changes in the distribution of  
18 weight may occur earlier than changes in actual  
19 weight. OLZ/SAM also reduced the risk of gaining  
20 5 centimeters in waist circumference by 50 percent  
21 compared to olanzapine, and the changes in waist  
22 circumference were stable in patients on OLZ/SAM,

1 continuing for 76 weeks.

2 The figure on the right shows the post hoc  
3 finding of systolic blood pressure. Systolic blood  
4 pressure on olanzapine treatment increased by  
5 greater than 2 millimeters of mercury, where it was  
6 unchanged with OLZ/SAM. Systolic blood pressure is  
7 an independent risk factor and established  
8 biomarker. Every 2 millimeters of mercury increase  
9 in systolic blood pressure is associated with an  
10 approximately 7 percent higher long-term risk of  
11 death due to cardiovascular disease.

12 The benefits of blood pressure were further  
13 reflected by a 50 percent reduction in the risk of  
14 shifting from normal to hypertensive blood pressure  
15 levels. Stability of blood pressure was  
16 demonstrated in patients remaining on OLZ/SAM  
17 treatment for 76 weeks. Taken together, these data  
18 indicate a reduction in three important  
19 cardiometabolic risk factors.

20 Unlike the changes in weight, waist  
21 circumference, and blood pressure, we did not see  
22 differences in metabolic laboratory parameters

1 between OLZ/SAM and olanzapine in 24 weeks. This  
2 plot shows the change from baseline in lipid and  
3 glycemic parameters at week 24 with olanzapine in  
4 orange and OLZ/SAM in blue. Changes were generally  
5 small and similar for both treatment groups.

6 We included these parameters as exploratory  
7 endpoints, understanding that observing  
8 weight-related differences in these parameters may  
9 be confounded by several factors, including weight  
10 independent effects of olanzapine, inconsistencies  
11 in fasting status, and importantly, study duration.  
12 While we were confident that 6 months was  
13 sufficient to see differences in weight, it was not  
14 clear that this timeframe was sufficient to observe  
15 weight-driven changes in metabolic parameters.

16 Also, since this is a weight-prevention and  
17 not a weight-loss study, patients were non-obese  
18 and generally metabolically healthy entering this  
19 study. And as Dr. Evan Stein noted in his  
20 presentation, 24 weeks was likely not sufficient  
21 time to see a difference between the treatment  
22 groups in a population with this profile. What we

1 do know is that over time, weight gain is a major  
2 driver of glucose and lipid abnormalities, with  
3 prevalence of diabetes and dyslipidemia increasing  
4 progressively with increasing BMI. With long-term  
5 OLZ/SAM treatment and the open-label studies, lipid  
6 and glycemic parameters remained stable through  
7 76 weeks.

8           When we look at a composite view, we see  
9 benefits for OLZ/SAM versus olanzapine across  
10 multiple cardiometabolic risk factors. In this  
11 forest plot, each row denotes a clinically  
12 meaningful change for that risk category. The  
13 circles denote the absolute risk difference for  
14 OLZ/SAM versus olanzapine, along with the  
15 confidence intervals.

16           You can see that in the 24-week study,  
17 treatment with OLZ/SAM was associated with a  
18 significant reduction in the risk of weight gain,  
19 obesity, central adiposity, and hypertension.  
20 Lipid and glycemic parameters cross zero, and  
21 therefore showed no difference between OLZ/SAM and  
22 olanzapine, and as we saw in the previous slide,

1 changes were generally small for both treatment  
2 groups at 24 weeks. The stability of these  
3 parameters were seen in the long-term studies  
4 through 76 weeks.

5 To summarize the weight effects, OLZ/SAM  
6 reduced the mean percent change in weight versus  
7 olanzapine and resulted in a 50 percent reduction  
8 in the risk of clinically meaningful weight gain.  
9 We also saw improvements in waist circumference and  
10 systolic blood pressure. The stability of weight,  
11 waist circumference, blood pressure, and metabolic  
12 parameters was also seen in long-term studies.

13 The next section of data I'll show you is  
14 the safety data, which demonstrated an overall  
15 safety profile consistent with olanzapine with less  
16 weight gain. Our understanding of the safety  
17 profile of OLZ/SAM is informed by an extensive  
18 safety data accumulated for Zyprexa. Effects of  
19 samidorphan have been studied in over 600 patients  
20 and healthy volunteers.

21 Supportive to this is well-established  
22 clinical experience with the class of opioid

1 antagonists, which are used in the field of  
2 addiction as a treatment for opioid- or alcohol-use  
3 disorder, including in patients with comorbid  
4 schizophrenia or bipolar disorder. As we monitored  
5 our clinical studies, we focused on the known  
6 safety profiles of both components while being  
7 vigilant to new unexpected adverse events or  
8 amplification of known signals that might have  
9 emerged from the combined use of olanzapine and  
10 samidorphan.

11 The overall safety profile of OLZ/SAM is  
12 informed by a total of 1601 unique exposures to  
13 OLZ/SAM with 1262 of those in patients with  
14 schizophrenia, providing a total of  
15 910 person-years of exposure in this population.  
16 Close to 400 patients were on OLZ/SAM treatment for  
17 more than a year in the studies going up to three  
18 and a half years.

19 Now I'll review the adverse events or AEs.  
20 The colored columns here represent the studies.  
21 Adverse events were generally mild to moderate in  
22 severity and similar between the OLZ/SAM and

1       olanzapine treatment groups. There were few  
2       treatment discontinuations due to AEs across  
3       treatments. Most were related to the exacerbation  
4       of underlying schizophrenia.

5                Serious AEs were infrequent and again were  
6       largely related to the exacerbation of underlying  
7       psychiatric disease. Neither olanzapine nor  
8       samidorphan have demonstrated any evidence of abuse  
9       potential. Our overall experience to date suggests  
10      that the safety profile of OLZ/SAM is generally  
11      consistent with that of olanzapine, with the  
12      exception of less weight gain.

13              I'll next describe what is known  
14      historically about olanzapine and opioid  
15      antagonists. As a second-generation antipsychotic,  
16      olanzapine is known to cause effects such as weight  
17      gain and metabolic changes, as well as effects such  
18      as extrapyramidal and anticholinergic effects.

19              For opioid antagonists, important risks that  
20      were not commonly seen across our clinical program  
21      in an evaluation of 1600 patients that are known  
22      class risks include precipitated opioid withdrawal

1 in patients who are physically dependent on opioid  
2 agonists; attempts to overcome the opioid blockade  
3 with opioids, which could lead to fatal opioid  
4 overdose; reduced or inadequate opioid analgesia  
5 when taking an opioid analgesic concurrently with  
6 an opioid antagonist.

7 To address these risks, we have proposed a  
8 contraindication for patients dependent on or  
9 chronically using opioids, as well as warnings and  
10 precautions describing these risks. We know that  
11 opioid use and opioid-use disorder do occur more  
12 frequently in patients with schizophrenia or  
13 bipolar disorder than in the general population.  
14 The FDA has raised the point that these risks could  
15 be mitigated through labeling, and we agree.

16 In addition, because patients' safety comes  
17 first, we have leveraged our experience in patients  
18 with serious mental illness and proactively propose  
19 a robust educational plan to ensure that potential  
20 prescribers and patients understand the  
21 contraindications and warnings and precautions.  
22 Our pharmacovigilance plan ensures safety data

1 collection, signal detection, and regulatory  
2 reporting in accordance with the current  
3 regulations.

4 To summarize the phase 3 for OLZ/SAM, we  
5 demonstrated antipsychotic efficacy compared to  
6 placebo and mitigation of olanzapine-associated  
7 weight gain while maintaining the known efficacy of  
8 olanzapine. The durability of the antipsychotic  
9 and weight mitigation efficacy was also seen in  
10 longer term data and replicated across the program  
11 in nonclinical species, healthy human volunteers,  
12 and in patients with schizophrenia.

13 The safety profile is consistent with what  
14 is known for olanzapine with the benefit of less  
15 weight gain. Class risks associated with opioid  
16 antagonists are well characterized and can be  
17 managed through labeling, pharmacovigilance  
18 activities, and education.

19 I'd like to turn now to four points for  
20 consideration raised by the FDA and would like to  
21 give our findings on these important points.  
22 First, the changes in weight seen with OLZ/SAM are

1 both statistically and clinically meaningful. The  
2 endpoints that we aligned with the FDA on were  
3 carefully selected for clinical meaningfulness  
4 [inaudible - audio fades], and we met those  
5 co-primary and key secondary endpoints.

6 The mean difference in weight gain between  
7 OLZ/SAM and olanzapine is in line with what we  
8 anticipated for a 24-week study in a non-obese and  
9 generally metabolically healthy population. This  
10 mean difference also increases over time, altering  
11 the known trajectory of weight gain associated with  
12 olanzapine.

13 Not only did we show significant difference  
14 in the population mean weight gain, OLZ/SAM also  
15 shifted the overall weight distribution curve  
16 toward less weight gain. This resulted in a  
17 50 percent decrease in the risk of gaining greater  
18 than or equal to 10 or 7 percent. We also saw this  
19 50 percent reduction in risk across several  
20 cardiometabolic risk factors, including weight  
21 gain, waist circumference, the measure of central  
22 adiposity, and systolic blood pressure.

1           Second, the safety of OLZ/SAM is well  
2 characterized. Greater than 900 person-years of  
3 exposure data demonstrated a safety profile for  
4 OLZ/SAM and was consistent with olanzapine with the  
5 benefit of less weight gain.

6           Third, the class risks associated with  
7 opioid antagonist can be managed through labeling.  
8 Labeling and education will provide an appropriate  
9 balance of communicating these well-characterized  
10 risks while avoiding barriers for patients who are  
11 often challenged with access to medications.

12           Fourth, ongoing studies will provide  
13 additional supportive data. We are committed to  
14 future research on OLZ/SAM. In addition to our  
15 ongoing open-label extension study, we're  
16 evaluating the efficacy of OLZ/SAM in patients most  
17 vulnerable to weight gain and have an ongoing study  
18 in early illness enrolling schizophrenia,  
19 schizophreniform, and bipolar I disorder patients.

20           We've also developed a comprehensive  
21 pediatric study plan to evaluate OLZ/SAM in  
22 pediatric patients with schizophrenia or bipolar I

1 disorder. Our current data package, however, is  
2 complete to support approval of OLZ/SAM, and the  
3 totality of data demonstrate a favorable  
4 benefit-risk profile for OLZ/SAM, a potential new  
5 treatment option that addresses an important unmet  
6 need for patients with schizophrenia or bipolar I  
7 disorder.

8 We are fortunate to be joined today by four  
9 clinicians, scientists, and experts in the fields  
10 of psychiatry, addiction, and lipid disorders and  
11 metabolism. We also have a number of Alkermes  
12 attendees available to answer any questions you may  
13 have. Thank you for your time.

14 **Clarifying Questions to Applicant**

15 DR. NARENDRAN: Thank you for the summary  
16 presentation. We will now take clarifying  
17 questions for Alkermes. Please use the raised-hand  
18 icon to indicate that you have a question and  
19 remember to put your hand down after you ask you a  
20 question.

21 Please remember to state your name for the  
22 record before you speak and direct your question to

1 a specific presenter if you can. If you wish for a  
2 specific slide to be displayed, please let us know  
3 the slide number if possible. Finally, it will be  
4 helpful to acknowledge the end of your question  
5 with a thank you and end your follow-up question  
6 with "That is all my questions," so we can move on  
7 to the next panel member.

8 The first question is from Dr. Zacharoff.

9 DR. ZACHAROFF: Yes. Hi. Good morning.  
10 This is Kevin Zacharoff from Renaissance School of  
11 Medicine at Stony Brook University. My questions  
12 are for Dr. Akerman, and the first question is with  
13 respect to slide CS-20. I don't know if you're  
14 going to bring that up.

15 Dr. Akerman, my question on this slide  
16 really focuses around, when we say educate the  
17 healthcare professionals, are we talking about  
18 educating the healthcare professionals who would  
19 likely prescribe this medication or are we talking  
20 about a more general, broad healthcare education  
21 activity?

22 DR. AKERMAN: If I could have slide up,

1 please? We have proposed a comprehensive  
2 educational plan -- this is some of the components  
3 here -- that would be directed both to healthcare  
4 professionals and patients. We'd like to propose  
5 an initial communication to all potential  
6 prescribers and then ongoing education as  
7 appropriate. This will include information about  
8 the contraindication warnings and precautions.

9 We also have a number of patient-directed  
10 materials, such as a doctor discussion guide and  
11 wallet card that could be helpful for patients as  
12 they discuss this potential medication with their  
13 providers.

14 DR. ZACHAROFF: Okay. So then it seems to  
15 me the education will be directed towards the  
16 prescribers, so we might consider that that might  
17 be a psychiatrist, for example, or some other  
18 healthcare provider.

19 My question to you is, is there any plan to  
20 provide educational materials to clinicians that  
21 might find themselves in a situation of needing to  
22 give opioid analgesics to patients who are on this

1 medication, such as emergency department  
2 physicians, or orthopedists, or even  
3 anesthesiologist like me, for example? Is there  
4 any plan at this time to provide some kind of  
5 educational programs or materials to those  
6 potential prescribers?

7 DR. AKERMAN: Yes, absolutely. I think  
8 that's a very important point. We would most  
9 certainly be interested in informing anyone who  
10 could come into contact with a patient taking this  
11 medication, and we're happy to work closely with  
12 the agency to ensure that our educational plan is  
13 robust and gets to all HCPs and patients who need  
14 this information.

15 DR. ZACHAROFF: Okay. Then one more  
16 question with respect to slide CS-23.

17 While we're bringing up that slide,  
18 Dr. Akerman, my question would be in the event that  
19 OLZ/SAM does need to be discontinued because the  
20 patient does become a candidate to receive an  
21 opioid analgesic, on an acute basis let's say, how  
22 long after discontinuation of the medication would

1       prescriber of an opioid need to wait before they  
2       prescribe the opioid and wouldn't experience the  
3       opioid antagonism?

4               DR. AKERMAN: Yes, I would imagine that  
5       would be based on clinical judgment, depending on  
6       the opioid and how long the patient was receiving  
7       treatment. But I'd like to ask my colleague,  
8       Dr. Rege, to comment on what is known.

9               Dr. Rege?

10              DR. REGE: Yes. Bhaskar Rege, clinical  
11       pharmacology from Alkermes. In our clinical trial  
12       that was looked at, the effect of samidorphan in  
13       blocking the effects of remifentanil, which is a  
14       known very potent opioid antagonist, the data  
15       indicated about 48 hours of blockade, which would  
16       be the time period that we will be recommending,  
17       and within 48 hours, the new activity was recovered  
18       after stopping this.

19              DR. ZACHAROFF: So in the event that  
20       somebody is on this medication and is in a motor  
21       vehicle accident, for example -- I heard you say  
22       remifentanil. I'm assuming that's the only opioid

1 agent that the testing was done with?

2 DR. REGE: The clinical trial that was done,  
3 that used the remifentanil as an opioid to test  
4 against the samidorphan, there are other opioid  
5 agonist data that's available. The half-life of  
6 samidorphan is around 7 to 11 hours, which, again,  
7 I think within 24 hours, one would remove at least  
8 90 percent. The patient would clear about  
9 95 percent of the drug within 24 hours. So that's  
10 an indicator of any other less active opioid  
11 antagonist that may be able to get up to 24 hours  
12 rather than something very potent like remifentanil  
13 that could take about 48 hours.

14 DR. ZACHAROFF: Okay. I'm going to keep it  
15 to clarifying questions and we can save the  
16 discussion for this afternoon. Just one last  
17 question to you then, sir, and that would be, is  
18 there any study of people needing acute opioid  
19 analgesia before the 24 to 48 hours has passed, for  
20 medical purpose?

21 DR. AKERMAN: We do have issues of instances  
22 within our clinical trial program. I can ask.

1 Dr. Yagoda to speak to those, and then I'd like to  
2 turn to Dr. Kathleen Brady who can let us know what  
3 is known in the field about analgesia in the  
4 context of opioid antagonists.

5 Dr. Yagoda?

6 DR. YAGODA: Sergey Yagoda, medical  
7 director. The use of opioids was restricted in our  
8 clinical program, however, we have an example when  
9 one of the patients had gotten into a car accident  
10 and was injured. They had pelvic fracture. The  
11 patient was well informed of the pain management  
12 aspect. They were carrying the emergency card with  
13 them, and they were able to communicate with the ER  
14 physicians.

15 On the ongoing participation in the study,  
16 where they received opioid antagonist, the ER  
17 physician was able to contact the investigator, and  
18 they worked out the pain management plan. The  
19 patient received acute opioids in combination with  
20 paracetamol in the immediate acute stage, and then  
21 they transitioned to oxycodone/paracetamol. The  
22 patient recovered. They did not report inadequate

1 analgesia throughout the recovery period.

2 DR. AKERMAN: Dr. Brady, could you comment  
3 on what is known about analgesia and the context of  
4 opioid antagonists?

5 DR. BRADY: Certainly. Hi. This is  
6 Kathleen Brady. Can everyone hear me? I just want  
7 to make sure I've got my off-mute ok.

8 DR. ZACHAROFF: Yes, I hear you. Thank you.

9 DR. BRADY: Okay. Great.

10 The opioid antagonists have been around in  
11 the treatment of alcohol dependence for 20 some  
12 years now, and so are increasingly commonly used.  
13 There are pretty well-defined protocols for  
14 analgesia in the face of opioid antagonists that I  
15 think most physicians, and ED docs, and trauma  
16 surgeons are aware of.

17 These certainly include the use of regional  
18 blockades, the use of non-opioid analgesics, and  
19 then careful application of opioids to overcome the  
20 blockade, where patients are in hospital and can be  
21 scrutinized very carefully. I think we've learned  
22 a lot about how to produce analgesia in the face of

1       opioid antagonists, and then I think the field is  
2       well versed in this.

3               DR. ZACHAROFF: Okay. Thank you. That  
4       concludes my questions.

5               DR. NARENDRAN: Thank you. This is Raj  
6       Narendran. I'm going to ask my question because  
7       it's sort of related.

8               Does Alkermes have any PET occupancy data at  
9       the mu receptor in humans for samidorphan at  
10       10 milligrams to definitively say what percentage  
11       of mu receptors are occupied, and for how long? Do  
12       you have any PET data?

13              DR. AKERMAN: We do not have PET data, but  
14       what we do have is animal data that I could ask  
15       Dr. Rege to speak to.

16              DR. NARENDRAN: That's fine. If you don't  
17       have PET data, it might be worth considering down  
18       the line. Thank you.

19              The next question, I'll pass it to Dr. Dunn.

20              DR. W. DUNN: Hi. This is Walter Dunn from  
21       UCLA. My question is -- actually I'd like to hear  
22       a comment from both the sponsor and the FDA,

1 perhaps starting with the sponsor. This is not the  
2 first time that the advisory committee has heard  
3 about samidorphan. There was an application for  
4 ALKS 5461 back in 2018. This is the combination  
5 product of buprenorphine and samidorphan.

6 In that briefing document, they mentioned  
7 that samidorphan is metabolized to 2 full mu opiate  
8 receptor agonists. So you start with the  
9 antagonist, but it metabolites to 2 agonists.  
10 There wasn't any mention of that in any of the  
11 material that I saw this time. Can you comment on  
12 what's the role of agonists? What potential  
13 problems may arise from that; both a comment from  
14 the sponsor and FDA, please? Thank you.

15 DR. AKERMAN: Thank you. I'll ask Dr. Rege  
16 to comment on this question.

17 DR. REGE: Bhaskar Rege, clinical  
18 pharmacology in Alkermes. Slide up, please.

19 Yes, there are two major metabolites for  
20 samidorphan. You're right that in the RDC-9986,  
21 that is the main metabolite that has opiate agonist  
22 effects. What you see on the slide here is the

1       affinity values. For mu kappa and delta for 9986,  
2       they were at least 20-fold lower than the parent  
3       molecule. In fact, kappa is around 200-fold lower.  
4       So in a clinical situation, in the presence of  
5       samidorphan, we expect that the samidorphan  
6       antagonism will out-compete any agonism, and there  
7       won't be any likelihood of this metabolite engaging  
8       any of the receptors.

9               In terms of the receptor occupancy data, we  
10       did look at the rat, and we see about over  
11       90 percent receptor occupancy at mu receptor at a  
12       concentration of a 10-milligram dose. So again,  
13       there is no likelihood of any of these metabolites  
14       having ability to engage any mu receptors and  
15       confer any effects. So the overall in vivo  
16       situation, we don't expect any of those metabolites  
17       contributing to any pharmacological effect to  
18       samidorphan, and all we see is the receptor  
19       antagonist effect for samidorphan and mu receptor.

20               DR. AKERMAN: And I'll just add this is  
21       confirmed by no evidence of abuse potential for  
22       either olanzapine or samidorphan across the

1 clinical program.

2 DR. W. DUNN: So that's based off the  
3 assumption that the patient is taking consistent  
4 doses of the medication. I think in this patient  
5 population, consumption is inconsistent adherence.  
6 So if you've got a patient who is taking it one day  
7 and not the other, and as you mentioned previously,  
8 in 24 hours, you're losing about 90 percent of the  
9 blockade, how does that factor into the safety  
10 equation then?

11 Could you also comment on the half-life of  
12 the metabolites?

13 DR. AKERMAN: I'll ask Dr. Rege to comment  
14 on the half-life, but I will note that we saw good  
15 adherence across our clinical program, which is  
16 consistent with adherence, which is known to be the  
17 case for [inaudible - audio fades].

18 Dr. Rege?

19 DR. REGE: Bhaskar Rege, clinical  
20 pharmacology from Alkermes. Can I have the slide  
21 CT-3, please? The half-life of 996, which is the  
22 metabolite that we were discussing, it's around

1 24 hours versus the half-life of samidorphan, which  
2 is around 7 to 11 hours. There's a third  
3 metabolite that, in fact, has a similar half-life  
4 as samidorphan.

5           Again, as we saw, none of the data that we  
6 indicate in the remifentanil study, where we see a  
7 48-hour blockade, that none of that data really  
8 indicated that any of the 996 metabolites  
9 contributed to any effects because we actually see  
10 a receptor blockade rather than any of the agonist  
11 effects due to the metabolites, even if it has a  
12 longer half-life.

13           DR. W. DUNN: Just as a quick follow-up just  
14 to clarify, hypothetically, patients taking the  
15 combination product consistently for, let's say a  
16 month, and then start to take it inconsistently  
17 once every 2 days or so, in that window where their  
18 samidorphan concentrations are achieving less than  
19 90 percent occupancy, you've got more new opioids  
20 or antagonist activity present, and that  
21 hypothetically could put the patient at increased  
22 risk for an overdose if they took another opiate

1 agonist?

2 Would that be a correct interpretation of  
3 the safety concern?

4 DR. AKERMAN: Well, I'd like to ask  
5 Dr. Kathleen Brady to comment on what is known  
6 about opioid overdose risks associated with  
7 antagonists, but just to note that opioid use would  
8 be contraindicated in conjunction with OLZ/SAM use.

9 Dr. Brady, could you comment on opioid risk  
10 of overdose?

11 DR. BRADY: Yes. The risk of opioid  
12 overdose as associated with antagonist therapy is  
13 generally thought to be associated when an  
14 individual with opioid-use disorder is placed on an  
15 opioid antagonist, which again that would be  
16 contraindicated with OLZ/SAM, and then they  
17 abruptly stop and resume their usual dose of  
18 opioids.

19 One of the things that happens with opioids  
20 is people get tolerant very quickly, so they'll  
21 lose that tolerance very quickly when they're  
22 taking an antagonist. And if they administer the

1 same dose of opioids that they're used to  
2 administering, they're likely to overdose.

3           There is a little bit of animal data that  
4 suggests using opioid antagonists when they  
5 abruptly discontinue, there is super sensitivity of  
6 the new receptors, but that has not been  
7 demonstrated in clinical populations at all. In  
8 fact, there's a human laboratory study by Cornish  
9 and colleagues where they gave individuals -- they  
10 tested morphine sensitivity, gave a couple of weeks  
11 of daily naltrexone, tested morphine sensitivity  
12 with abrupt discontinuation, and found no change in  
13 terms of CO2 or respiratory depression.

14           DR. W. DUNN: Could the FDA comment about  
15 these metabolites? Is it a concern of yours? I  
16 don't have, off the top of my head, the binding  
17 affinities of a standard opiate versus these  
18 metabolites, so I'm wondering if the binding  
19 affinities are not high enough for you to be  
20 concerned about that agonist activity of the  
21 metabolites; if you could comment on that. Thank  
22 you.

1 DR. NARENDRAN: Dr. Dunn, we're going to  
2 wait for agency because they have their own time.

3 DR. W. DUNN: Okay.

4 DR. NARENDRAN: Okay? They'll have 30  
5 minutes.

6 The next question is going to be  
7 Dr. Krishna.

8 DR. KRISHNA: Hi. This is Sonia Krishna,  
9 affiliate faculty at Dell Medical School at Austin.  
10 I just wanted to clarify what the demographics were  
11 for A303, what the average age was and baseline  
12 weight with absolute numbers. I've appreciated the  
13 percentages but really want to see what the  
14 absolute weight reduction or at least prevention of  
15 gain was. I saw 3 to 6 kilograms. I just wanted  
16 to clarify that. Thank you.

17 DR. AKERMAN: Yes, absolutely. I'll ask  
18 Dr. McDonnell to speak to this, but noting that  
19 you've asked about the demographics and the  
20 absolute weight change.

21 Dr. McDonnell?

22 DR. McDONNELL: David McDonnell, Alkermes.

1 Slide up, please. This is a slide looking at the  
2 baseline characteristics in the A303 study, and we  
3 see the baseline characters were generally well  
4 balanced between the treatments. The body weight  
5 at baseline was 77 kilograms and the BMI between 25  
6 and 26, very similar between both treatment groups.

7 DR. NARENDRAN: Did that answer your  
8 question, Dr. Krishna?

9 DR. KRISHNA: Yes, that covered most of it,  
10 but if you could remind me what the absolute  
11 percentage change changed to in terms of kilograms,  
12 that would be helpful.

13 DR. McDONNELL: Yes. The absolute change in  
14 body weight with OLZ/SAM was 3.18 kilogram. With  
15 olanzapine, it was 5.08 kilogram, and the  
16 difference of 1.9 kilogram.

17 DR. KRISHNA: Thank you.

18 DR. NARENDRAN: The next question is from  
19 Ms. Witczak.

20 MS. WITCZAK: Good morning. Thanks for your  
21 presentation. I had two questions, one that just  
22 came out of the one from prior speaker. But the

1 first is, given that we know that antipsychotics,  
2 Zyprexa, has a lot of off-label use, I'd love to  
3 hear what your thinking is behind that because,  
4 obviously, this is a great concern with what's  
5 happening in the real world, off-label with Zyprexa  
6 and the weight gain, so I'd be interested about  
7 that.

8 Then, I noticed on the slide -- so that's  
9 question 1. Question 2 was in that slide on the  
10 demographics. I just wanted to make sure that I  
11 understood something correct, that only 64 percent  
12 of the people actually completed the study. Is  
13 that what the completion rate was, completion  
14 percentage? And if it was only 64 percent  
15 completed, what were the reasons for dropping out?

16 DR. AKERMAN: Your first question, the  
17 indications proposed for OLZ/SAM would be  
18 consistent with that of Zyprexa, which we'll be  
19 recommending. To your second question, the reasons  
20 for dropout, I'll ask Dr. McDonnell to speak to  
21 that, noting that the dropout and missing data is  
22 what was anticipated in a schizophrenia trial of

1 this duration.

2 Dr. McDonnell?

3 DR. McDONNELL: David McDonnell, Alkermes.

4 The treatment completion in the study, you're  
5 right, was over 64 percent in the study. That's  
6 high for a study of this duration in this study  
7 population. Most antipsychotic studies that are  
8 24 weeks duration or longer tend to have  
9 discontinuation rates that are higher than that.

10 The reasons for discontinuation in this  
11 study -- slide up, please -- the majority of the  
12 reasons that patients discontinued in our clinical  
13 studies is for withdrawal by subject, loss to  
14 follow-up, and they tend to be the highest reasons  
15 for discontinuation, and then the adverse events  
16 were similar between the treatment groups for  
17 OLZ/SAM and olanzapine.

18 MS. WITCZAK: Okay. Thanks. This helps  
19 right here. I see that the adverse events are a  
20 little bit higher with the combined product.  
21 Again, going back to the question on off-label, I  
22 know this is what was studied, but there are a lot

1 of knowns out there, given the fact that olanzapine  
2 has been on the market for a long time and there's  
3 been all kinds of issues with off-label; so just  
4 being more proactively looking at this and what  
5 could be anticipated.

6 That would be a comment, or I would love to  
7 hear your comments based on what I just said.  
8 Thank you.

9 DR. AKERMAN: Yes, absolutely. Thank you.  
10 Dr. Rene Kahn is joining us and has extensive  
11 experience with research on olanzapine.

12 Dr. Kahn, could you comment on the question  
13 about off-label use?

14 DR. KAHN: Yes. Thank you, Dr. Akerman.  
15 This is Dr. Rene Kahn, and just for my conflict of  
16 interest, I do get honoraria and consulting fees  
17 from Alkermes.

18 Yes, there's a lot of experience with  
19 olanzapine since the approval in 1996, and I do  
20 think it is really mostly used in schizophrenia and  
21 bipolar illness and maybe sometimes in psychotic  
22 depression. But I'm not so sure that the off-label

1 use is really extensive in olanzapine because it's  
2 really only approved in the indications that I just  
3 mentioned. So I'm not so sure whether it's, in the  
4 clinical, normal practice, going to be a major  
5 problem. Thank you.

6 MS. WITCZAK: Okay. We can discuss that  
7 later this afternoon on my concerns. Thank you.

8 DR. NARENDRAN: Thank you.

9 People who've asked the questions and have  
10 their questions answered, if you could lower your  
11 arm, that would be helpful. The next question is  
12 Dr. Thomas.

13 (No response.)

14 DR. NARENDRAN: Dr. Thomas, we can't hear  
15 you.

16 DR. THOMAS: Okay. Sorry. Dr. Thomas,  
17 Baylor College of Medicine. I have two questions.  
18 One is in two parts, and this is directed towards  
19 Dr. Brady and Dr. Rege; apologies if I'm  
20 mispronouncing that.

21 The first question is, my understanding of  
22 samidorphan is not only that it has a longer

1 half-life but also higher binding affinity than  
2 naltrexone, which the presenters have compared it  
3 to in terms of efficacy and safety or other safety  
4 data for it. I guess, one, is that accurate?

5 Two, if that is, especially for Dr. Brady,  
6 do you think that the protocols that exist for  
7 dealing with acute pain management for people on  
8 antagonists would be altered or import more  
9 morbidity if they had to overcome this over a  
10 longer time and with a higher affinity binding  
11 antagonist?

12 DR. AKERMAN: I'll ask Dr. Rege to speak to  
13 the binding affinity first, and then to Dr. Brady.  
14 Thank you.

15 DR. REGE: Bhaskar Rege, clinical  
16 pharmacology at Alkermes. Can I have slide CP-91,  
17 please? From a binding affinity perspective, in  
18 regards to the mu receptor naltrexone, samidorphan  
19 only is twice -- has more affinity than naltrexone.  
20 Per kappa, they have a very similar affinity  
21 between naltrexone and samidorphan, and for delta,  
22 it is about 30-fold more affinity for samidorphan.

1 DR. AKERMAN: Dr. Brady, could you comment  
2 on pain management with an antagonist?

3 DR. BRADY: Yes. This is Kathleen Brady,  
4 and I am a paid consultant for Alkermes, just for  
5 conflict of interest. As you know, pain management  
6 is a very clinically driven process and so  
7 individual. Pain thresholds as well as pain  
8 regimens differ tremendously from one individual to  
9 the other.

10 So I would say that these fairly minor  
11 differences in binding and half-life are something  
12 that would fairly easily be managed using the  
13 protocols that are already well established with  
14 careful patient monitoring, because no matter which  
15 antagonist an individual is on, there will be a  
16 time when the antagonism is wearing off and  
17 agonists become more potent. It's hard to say  
18 precisely for any individual what that time point  
19 is, so clinicians know to monitor carefully. Thank  
20 you.

21 DR. THOMAS: Hi. It's Dr. Thomas. My  
22 second question is related to the slide regarding

1 labeling. Having the antagonist there, there's the  
2 question of if you have someone who is in a using  
3 population of opioids, whether that would import  
4 increased risk of death and relapse.

5 There's actually some good data, and  
6 Dr. Brady's has already brought this up, around I  
7 think a 6-month study of Vivitrol versus Suboxone  
8 in which one of the outcomes was that there  
9 actually wasn't an increased risk of overdose, at  
10 least relative to one another, but you could  
11 potentially extrapolate that with this. However,  
12 these were people that were in a recovery program  
13 and actively working on it.

14 So I guess my question is, is there any  
15 thinking about the labeling to designate if people  
16 are on this, they either become opioid-dependent or  
17 they come into treatment opioid-dependent, labeling  
18 around them being in recovery or their stage of  
19 recovery? Certainly, if they're early in recovery  
20 and they're not in treatment, there might be more  
21 risk around that as opposed to someone who's been  
22 in recovery or working a recovery program. Thank

1 you.

2 DR. AKERMAN: Yes, thank you. This is  
3 something we considered very carefully, and I'd  
4 like, again, to have Dr. Brady weigh in on her  
5 clinical impression. But there will be a  
6 contraindication for patients who are currently  
7 physiologically dependent on opioids and would  
8 really be clinical judgment if someone had a remote  
9 history of opioid use.

10 Dr. Brady, could you comment as well?

11 DR. BRADY: Yes. I'd say this is definitely  
12 an issue of clinical judgment, but if we think  
13 about current opioid-use disorder, it's actually  
14 any time in the last three months. Really, the  
15 gold standard for this is going to be the clinical  
16 interview. I think that anybody probably who has  
17 had opioid dependence or actually is even at high  
18 risk is somebody you'd probably want to think very  
19 carefully about using this particular drug.

20 It's a very small percentage of the  
21 population of individuals with schizophrenia. It's  
22 only somewhere between 5 to 10 percent, so there's

1 still 90 percent of patients for which this would  
2 not even be an issue. So I think one would want to  
3 tread very cautiously in people with recent  
4 histories of opioid-use disorder.

5 DR. THOMAS: Just one follow-up question for  
6 that. Is it the idea that the labeling would be  
7 contraindicated in people with opioid-use disorder  
8 and cover even if they were not initially and then  
9 became or started to have that issue, as opposed to  
10 designating anything around recovery, or how that  
11 works if they were to become dependent?

12 DR. AKERMAN: The current contraindication  
13 we're proposing would be in people who are  
14 physiologically dependent on opioids. Because  
15 opioid-use disorder is a broader diagnosis, it  
16 would be at the discretion of the clinician if  
17 someone had a remote history of opioid use.

18 DR. THOMAS: Okay. Thank you.

19 DR. NARENDRAN: Thank you.

20 We have 12 minutes. I've got another four  
21 questions. I would appreciate it if you guys could  
22 now stick to one question at a time, and that way

1 everybody has a chance to ask their question; then  
2 if there's extra time, you can come back again.

3 The next question is from Dr. Boudreau.

4 DR. BOUDREAU: Yes. Hi. A quick question  
5 because a lot of mine were answered. With regards  
6 to the educational materials -- and sorry if this  
7 was discussed, as I might have gone in and out a  
8 little bit with my cell -- do the materials contain  
9 information about the risk of opioid overdose, even  
10 post-discontinuation of the medication?

11 I know that you addressed the fact that the  
12 data is pretty limited around -- I think it's only  
13 animal studies with regards to the upregulation of  
14 mu receptors with naltrexone, which is one of the  
15 hypothesized mechanisms by which they've observed  
16 elevated risk of overdose after treatment  
17 discontinuation.

18 It doesn't sound like you've looked at that  
19 in your clinical program with regards to animal  
20 studies, but I'm just curious about educational  
21 materials with regards to that, given that  
22 adherence to these medications sometimes isn't

1 great, and that these patients could present in  
2 emergency departments and places and not say that  
3 they're taking the medication because they have  
4 discontinued it, but still could be at risk for an  
5 undetermined time following discontinuation. Thank  
6 you.

7 DR. AKERMAN: Yes, we agree it's important  
8 to include all of these potential risks associated  
9 with opioid antagonists, and that would include the  
10 risk of overdose if someone were to attempt to  
11 overcome the blockade, if there was risk of  
12 precipitated opioid withdrawal, and the risk of  
13 inadequate analgesia. These risks will be included  
14 in the contraindications and warnings and  
15 precautions, as well as any educational material  
16 for both HCPs and patients.

17 DR. BOUDREAU: Thank you.

18 DR. NARENDRAN: The next question is from  
19 Dr. Iyengar.

20 DR. IYENGAR: This is Satish Iyengar from  
21 the University of Pittsburgh. I have what's maybe  
22 a discussion question. The studies that I see here

1 are on schizophrenia. How strong is the evidence  
2 that extrapolation to bipolar disorder is feasible?

3 DR. AKERMAN: Yes. I'd like to ask my  
4 colleague, Dr. DiPetrillo, to comment. We align  
5 with the agency on a bridging strategy.

6 Dr. DiPetrillo, could you outline this?

7 DR. DiPETRILLO: Yes. Lauren DiPetrillo,  
8 regulatory affairs. As mentioned by Dr. Fischer  
9 this morning, we did align with the agency on a  
10 bipolar bridge.

11 If I could have slide up? This really rests  
12 on two key points, one in regards to demonstrating  
13 that the olanzapine exposure in OLZ/SAM is  
14 bioequivalent to Zyprexa, which is shown here, and  
15 then also showing similar antipsychotic efficacy to  
16 olanzapine across our schizophrenia program.

17 If I could have slide up? The bipolar  
18 bridge is also supported by several other lines of  
19 evidence, namely that we know that  
20 olanzapine-associated weight gain is disease  
21 independent and noted as a concern for both  
22 patients with bipolar and schizophrenia. We also

1 know that the weight mitigation Of OLZ/SAM is  
2 disease independent, as we've seen this in healthy  
3 volunteers and in schizophrenia patients. We have  
4 no drug-drug interaction with lithium or valproate.

5 Then importantly, in looking at the  
6 literature, opiate antagonists, when given  
7 concurrently with patients' antipsychotic agents,  
8 does not affect bipolar symptom control, and in  
9 fact are included in treatment guidelines right now  
10 for patients with co-occurring substance-use  
11 disorder. But in regards to your question on how  
12 this translates, I'd like to actually turn it over  
13 to Dr. Kahn to speak about his clinical expertise  
14 of using olanzapine in both bipolar and  
15 schizophrenia patients.

16 Dr. Kahn?

17 DR. KAHN: Yes. Thank you very much. If I  
18 can just show up the slide on the efficacy of  
19 olanzapine in slide number 16, in bipolar illness.  
20 You can see there that olanzapine is highly  
21 effective as a treatment of mania, and also the  
22 time to discontinuation is actually the shortest or

1 the least pronounced in patients with mania. I  
2 really think that olanzapine is very well shown and  
3 very well studied.

4 You can see that also in the confidence  
5 intervals in bipolar illness, a highly effective  
6 antiemetic agent and a highly effective drug to  
7 maintain mood stability in bipolar illness. So I  
8 think there's a very long history of both efficacy  
9 in the short term and the long term in bipolar  
10 illness. I think a drug that includes the high  
11 efficacy of olanzapine in bipolar illness with the  
12 mitigated weight gain is really something we need  
13 currently. Thank you.

14 DR. NARENDRAN: Thank you.

15 The next question is from Dr. Jeffrey.

16 DR. JEFFREY: Hi there. Jessica Jeffrey  
17 from UCLA. For Study A303, I found it interesting  
18 that participants did not report changes in quality  
19 of life. Will you please review the tool used to  
20 assess quality of life and discuss your  
21 interpretation of these results? Thank you.

22 DR. AKERMAN: Yes. I'd like to ask

1 Dr. McDonnell to speak to these data, followed by  
2 Dr. Ginger Nicol to give her impression of the  
3 importance of weight gain to patients.

4 Dr. McDonnell?

5 DR. McDONNELL: David McDonnell, Alkermes.  
6 We did look at the quality of life in our clinical  
7 studies, and as you may expect, the results may  
8 have been confounded by the fact that both patients  
9 will be treated with active treatment, both  
10 patients receiving olanzapine. We know that  
11 patients who are treated with olanzapine tend to  
12 have high quality of life.

13 Slide up, please. These are the  
14 quality-of-life measures that we used in our  
15 clinical program. We used the EuroQol in 303 and  
16 the Impact of Weight on Quality of Life in 303.  
17 The Impact of Weight on Quality of Life, we were  
18 trying to look for a measure that could look at the  
19 effects of weight, and we chose this one because it  
20 is used in weight-loss products, however, probably  
21 was not well suited to a product that prevents  
22 weight gain.

1           What we saw in the outcome of the scale is  
2 we saw patients entering with high levels of  
3 quality of life, so a high score indicates a high  
4 level of quality life, and those levels stayed high  
5 for the full duration of the study. When you look  
6 at the individual items on the impact of quality of  
7 life, it may well be that the actual well being on  
8 the treatment affected them.

9           So this is something we've seen consistent  
10 in the clinical program, that both patients are all  
11 on olanzapine, so they tend to do well in the  
12 quality of life. One of the things we did look at  
13 is we did a patient exit interview for patients who  
14 were on OLZ/SAM in our open-label extension study.

15           Slide up. This is only a subpopulation of  
16 the patient. It's biased, but there are patients  
17 who remained on OLZ/SAM, so obviously they chose to  
18 remain on it. But what we saw was in those  
19 patients, generally speaking, quite positive  
20 effects on their emotional and mental well-being,  
21 on their self-esteem, and social activity. The  
22 patients were doing well when treated with OLZ/SAM,

1 obviously, within the limitations of how this  
2 interview is done.

3 I'll ask Dr. Nicol to talk to the effects of  
4 weight on quality of life in general.

5 Dr. Nicol?

6 DR. NICOL: Hello. This is Ginger Nicol.  
7 I'm a child and adult psychiatrist and obesity  
8 medicine specialist at Washington University in  
9 St. Louis, representing the clinical risk-benefit  
10 perspective here. For disclosure, I'm a paid  
11 consultant for Alkermes.

12 One of the things that we know about  
13 quality-of-life measures and randomized clinical  
14 studies of patients with schizophrenia is that,  
15 just as Dr. McDonnell said, most of the people that  
16 entered the study, there are limitations on how  
17 sick or well they can be. So when they're already  
18 on a medication that's very effective or they are  
19 stabilized, these measures tend to be high, and I  
20 think it's meaningful that they didn't change over  
21 the course of the study.

22 What we know from at least one

1 study -- slide up, please -- asking patients with  
2 schizophrenia what influences their decision to  
3 take medication -- but I know there are many  
4 psychiatrists here listening today. This is a  
5 population that we really do struggle with  
6 treatment compliance. This is an online study, so  
7 everybody was anonymous. But patients with  
8 schizophrenia, asking them what's the most  
9 important thing in terms of medication side effects  
10 that drives your decision to take a medication, you  
11 can see here, in that study, looking across the  
12 spectrum of potential weight gain, the number of  
13 respondents saying, "Yes, that would mean I don't  
14 want to take the medication."

15 This is really, I think, pretty obvious here  
16 with the slide, but in general, and working with  
17 patients with first-episode schizophrenia in  
18 particular, which is more typical in my population,  
19 these folks are very concerned about weight gain.  
20 They may not really understand or care about some  
21 of the other longer term side effects associated  
22 with weight gain, but weight gain is very important

1 and having the discussion with patients and  
2 families, especially in that critical period where  
3 we need to get them treated so we can impact  
4 long-term functioning. Thank you.

5 DR. NARENDRAN: The next question is from  
6 Dr. Bohnert.

7 DR. BOHNERT: Hi. Thank you. I was curious  
8 if I understood correctly in the dose-finding  
9 study, or Study 302, there was a lack of dose  
10 response effect on weight of the samidorphan. I  
11 hope you could comment on why you went forward in  
12 the next studies with the highest dose.

13 DR. AKERMAN: I'll ask Dr. McDonnell to  
14 speak to the dose-finding study.

15 Dr. McDonnell?

16 DR. McDONNELL: David McDonnell, Alkermes.  
17 In the phase 2 302 study, which is our dose-finding  
18 study, it's important to point out that the primary  
19 outcome of that study was actually an efficacy  
20 outcome, and as you would expect, the different  
21 doses of samidorphan that were studied in that  
22 study did not have an effect on the efficacy

1 outcome because olanzapine was treated as required  
2 by the clinician.

3 With regard to the weight effects, this was  
4 an important secondary endpoint in the study. We  
5 did study three different doses of samidorphan in  
6 this study. We studied a 5-milligram dose, a  
7 10-milligram dose, and a 20-milligram dose, and I  
8 acknowledge that the FDA said that they didn't see  
9 a dose difference in this study.

10 Slide up, please. But when we got the  
11 results of this phase 2 study, based upon this  
12 data -- which here we're looking at the percent  
13 change from baseline and body weight by week. In  
14 orange is the olanzapine-treated patients and in  
15 blue is the patients who had samidorphan. The  
16 solid blue line is the 10 milligrams of samidorphan  
17 and the dotted lines are the 20 milligram and  
18 5 milligrams of samidorphan.

19 What we saw is we saw the best mitigation of  
20 weight with the 10-milligram samidorphan dose, and  
21 obviously when we're looking at a medication that  
22 doesn't cause weight loss, we want to try and

1 maximize the amount of weight that it prevents. We  
2 looked at this data, and there are some other data  
3 in the clinical side where samidorphan was  
4 tolerated at all three doses. There was no real  
5 difference in the tolerability of samidorphan, but  
6 we felt that this was the dose that maximized that  
7 mitigation of weight in our phase 2 study, and we  
8 chose that dose as a fixed dose to move forward.  
9 Slide down, please.

10 DR. BOHNERT: Thank you.

11 **Clarifying Questions to FDA**

12 DR. NARENDRAN: Thank you.

13 It's about 11:30. I still see a couple  
14 hands up. I wasn't sure if Dr. Dunn and  
15 Dr. Iyengar have questions, but I was thinking we  
16 can move to the agency's question session, and if  
17 there is extra time, we can give you a chance to  
18 complete it.

19 So let's move to the agency's questions,  
20 clarifying questions to the FDA. Again, please use  
21 the raised-hand icon to indicate that you have a  
22 question. State your name for the record. If you

1 have a specific presenter, please address that  
2 question to the presenter. If there's a specific  
3 slide you have, address it to the slide. At the  
4 end of your question being answered, please say  
5 thank you so it would be easier for me to figure  
6 out to move to the next person.

7 Our first question is Dr. Dunn because he  
8 had that follow-up question.

9 DR. W. DUNN: Great. Thank you. Walter  
10 Dunn, UCLA. Yes, this is a follow-up question to  
11 the agency. Regarding the presence of the  
12 mu opioid agonists, as far as the metabolites, how  
13 problematic is that? Is that a concern. Then as a  
14 related question, what's your stance on fluctuating  
15 levels of mu blockade? So again, observation  
16 within this patient population, medication  
17 adherence is highly inconsistent and, again, that's  
18 the presumption rather than the exception.

19 As a related question, what's your position  
20 on the use of this on a PRN basis?

21 DR. FARCHIONE: Sorry. The last one threw  
22 me a bit. This is Tiffany Farchione, by the way.

1 What do you mean by on a PRN basis?

2 DR. W. DUNN: Yes. Basically, the two  
3 clinical situations I can envision are a patient is  
4 taking a standing dose of OLZ/SAM, and then  
5 occasionally you prescribe, let's say, a  
6 5-milligram dose of olanzapine for any potential  
7 exacerbations of symptoms or treating psychotic  
8 episode before they get bad, allowing the patient  
9 to take it on an as-needed basis, based off --

10 DR. FARCHIONE: I think that that's kind of  
11 outside the scope of what we're talking about here.  
12 We're really talking about the indication that  
13 they're seeking, which is chronic treatment  
14 indication for schizophrenia or bipolar I disorder.  
15 But as far as the previous question about -- and  
16 again, this is not something that we brought up in  
17 our briefing document or anything like that because  
18 it really wasn't an issue that we were particularly  
19 concerned about.

20 I know that you referenced previous briefing  
21 materials from the last advisory committee, and  
22 really under that application, the main reason that

1 we were concerned was because with that other  
2 application, that samidorphan was combined with  
3 buprenorphine, which is an opioid agonist. So we  
4 had concerns about the -- and they were  
5 hypothetical concerns, but we had hypothetical  
6 concerns about potential additive, new agonism  
7 effects between buprenorphine and samidorphan  
8 metabolite.

9 So that's where the concern came from in the  
10 past, but we don't have anything really to add on  
11 this application. The other thing, too, to think  
12 about is that, again, with the previous  
13 application, there was a human abuse potential  
14 study, and samidorphan didn't produce positive  
15 effects in the human abuse potential study either.

16 DR. W. DUNN: Dr. Farchione, just as a  
17 follow-up on your first comment about this use in a  
18 chronic population, I guess maybe the better  
19 question is, is there a concern for safety if there  
20 is fluctuating levels of new blockade?

21 DR. FARCHIONE: Again, we haven't evaluated  
22 it in that context, and I don't anticipate that it

1 would be used in that manner. If that's related to  
2 the PRN question, I'm not sure why someone would  
3 use this as a PRN basis.

4 DR. W. DUNN: I guess, again, under the  
5 presumption that patients -- even if we prescribe  
6 it to them chronically or just kind of standing,  
7 they're not going to use it every single day,  
8 right? They're going to be on and off maybe  
9 60-70 percent of the time they're taking their  
10 medications. I guess maybe that would be the  
11 closest --

12 DR. FARCHIONE: Perhaps I can throw this  
13 over to Judy Staffa because I think your question  
14 might be coming from some of what was in the OSE  
15 presentation, so let me pass that over to her.

16 Judy?

17 DR. STAFFA: Hi. Judy Staffa here. Yes. I  
18 think that is one of the concerns that was raised  
19 in Dr. Celeste Mallama's presentation, is the  
20 fluctuating levels of the antagonist sitting on the  
21 receptor, and I think that related to some of the  
22 concerns about overdose. I think those fluctuating

1 levels come not from a prescribed PRN regimen, but  
2 more from, what we know, as you mentioned, about  
3 the difficulties with adherence to medications in  
4 this patient population.

5 So I think that's where those concerns are  
6 reflected. Yes, we've posed them and are anxious  
7 to hear what folks think about that, who treat  
8 these patients and know even more about this  
9 condition and this concern.

10 DR. W. DUNN: Great. Thank you.

11 DR. NARENDRAN: Our next question is from  
12 Dr. Zacharoff.

13 DR. ZACHAROFF: Hi. This is Kevin Zacharoff  
14 from Renaissance School of Medicine at Stony Brook  
15 University. This is in reference to the  
16 presentation that we previewed by Dr. Celeste  
17 Mallama with respect to considerations of risks  
18 associated with real-world settings of opioid use.

19 Dr. Mallama, in one of your slides, at the  
20 conclusion of that prerecorded presentation, there  
21 was mention about use of alternatives in the event  
22 that somebody requires acute analgesic treatment if

1 they're on this medication. I'm just wondering  
2 what alternatives were being referred to because,  
3 as we heard, in the example given earlier to my  
4 question from the sponsor, there was a situation  
5 where somebody was in a motor vehicle accident and  
6 suffered a fractured pelvis and presumably  
7 presented to the emergency department requiring  
8 analgesic treatment.

9 I know there was some mention of  
10 acetaminophen, but I don't think most clinicians  
11 would consider acetaminophen to be adequate  
12 analgesic treatment for someone who's post-MVA with  
13 a fractured pelvis. So I just want clarification  
14 about what alternatives were being referred to.

15 DR. MALLAMA: Hi. This is Celeste Mallama  
16 with FDA. In regards to pain management  
17 alternatives, those would be non-opioid  
18 alternatives. I think I can also pass this  
19 question to my colleague, Dr. Alicia Lopez, if you  
20 want to add something.

21 DR. LOPEZ: Yes. This is Dr. Lopez with the  
22 FDA. The case that's referenced that you were

1 referring to, that was based on the literature  
2 review, within the literature it was stated that  
3 the surgical team used a multimodal approach to  
4 pain management. They did use opioids. In this  
5 case for post-surgical pain, they used a  
6 hydromorphone pump, pain pump. Non-opioids that  
7 they used, at the end of surgery, they used a nerve  
8 block and then they also used the acetaminophen.  
9 They reported that they didn't believe the nerve  
10 block alone would be sufficient to manage the  
11 post-surgical pain, but that was the combination  
12 that they opted for within that case that was  
13 reported. Thank you.

14 DR. W. DUNN: Thank you. That answers my  
15 questions.

16 DR. NARENDRAN: The next question is from  
17 Dr. Fiedorowicz.

18 DR. FIEDOROWICZ: Yes. This is Jess  
19 Fiedorowicz in Ottawa. My question is for the FDA.  
20 I heard the rationale for the bridging strategy to  
21 a bipolar I indication rests on bioequivalence for  
22 olanzapine and a trial in schizophrenia. There

1 were some studies cited by the applicant in bipolar  
2 disorder, and those included two small trials with  
3 comorbid alcohol-use disorders; a practice  
4 guideline recommending naltrexone for use of  
5 alcohol-use disorder; and then a very small trial  
6 that was just a research letter, and all of those  
7 studies were with naltrexone.

8           So in my mind, I'm struggling with seeing  
9 how there's a single bridge here. It seems more  
10 like a series of bridges, perhaps something more  
11 familiar to what my colleagues in Pittsburgh might  
12 be familiar with. We have links from schizophrenia  
13 bipolar disorder, and then from naltrexone to sam.  
14 If that's the case, I think the rationale for each  
15 of those may be somewhat tenuous. The first is if  
16 naltrexone holds and why not just use that, which  
17 is much cheaper, and then the second, there's a lot  
18 of burgeoning literature on opioid  
19 neurotransmission, and it's relevant to the mood  
20 disorders.

21           So I'm just wondering what your thoughts are  
22 on this sort of complicated and tenuous bridging

1 strategy, and also, is there any precedent for  
2 that, for this sort of complex bridging strategy?

3 DR. FISCHER: Hi. This is Bernie Fischer.  
4 Usually when an applicant comes in and is going  
5 through a 505(b)(2) pathway, we make sure that they  
6 have compared the bioavailability, and that lets us  
7 generalize that their drug has the same blood  
8 levels and would lead to the same efficacy and  
9 safety as a listed drug or a drug that's already  
10 approved.

11 In this case, the applicant did do that, and  
12 what we really wanted to see was does the  
13 samidorphan interfere with the blood levels,  
14 interfere with the action at the receptor, and  
15 interfere downstream somehow. So we didn't think  
16 that they needed to do a bipolar study and a  
17 schizophrenia study because most of the drugs that  
18 are approved for both indications we assume act, at  
19 least partially, through a B2 receptor blockade.

20 So we were satisfied that if there was no  
21 interference in the efficacy with the schizophrenia  
22 study, that we didn't feel that there was going to

1 be a unique interference with the bipolar group as  
2 far as efficacy.

3 DR. NARENDRAN: Does that answer your  
4 question, Jess?

5 DR. FIEDOROWICZ: It answers my question.  
6 I'm not sure that I find it compelling, but I  
7 appreciate the answer.

8 DR. NARENDRAN: Our next question is from  
9 Dr. Krebs.

10 DR. KREBS: Hi. Thank you. This is Erin  
11 Krebs. My question is related to the weight gain  
12 issue, specifically with switching from olanzapine  
13 to the OLZ/SAM formulation. I'm referring  
14 specifically to figures that were in the FDA  
15 documents, really looking at the extension studies  
16 where there's an open-label extension after an  
17 initial randomized trial, and then included in the  
18 FDA presentations we watched earlier.

19 At least my impression here is that the  
20 weight gain is happening early when the drug is  
21 started, whether that's olanzapine alone or the  
22 olanzapine/sam. The benefit that seems to be

1       there, in terms of prevention of additional weight  
2       gain or of excess weight gain, seems like it's with  
3       the patients who are olanzapine naive starting  
4       initially. At least from the figure that I'm  
5       looking at, it didn't seem that that was occurring  
6       with patients who were switching from olanzapine to  
7       olanzapine/sam.

8               The reason, I'm just wondering if you could  
9       comment on that or have conversation about that. I  
10       imagine if this product becomes available as  
11       something to prevent weight gain, that patients who  
12       had been on olanzapine and had weight gain may want  
13       to switch to this new product with the hopes that  
14       that would halt the weight gain.

15               Is that something that can be commented on  
16       at this point or do we just not have data that are  
17       adequate?

18               DR. GOLDEN: Hi. This is Julie Golden. I'm  
19       a clinical reviewer with the Division of Diabetes,  
20       Lipid Disorders, and Obesity at FDA, and I  
21       collaborated with the Division of Psychiatry on  
22       this application. I am also looking at the figures

1 that you cite in the FDA briefing document. These  
2 were basically patients, when they switched over in  
3 the open-label phase to ALKS 3831, we're following  
4 those patients from the beginning of the controlled  
5 period. So they are not randomized from the  
6 beginning. That's the first point.

7 The second point to make is that while these  
8 longer term switched data seem potentially  
9 suggestive of a stabilization of weight, I think  
10 it's premature to make that statement at this point  
11 because we don't have randomized data at that time.  
12 We don't have any data suggesting that patients who  
13 have been on olanzapine for, say, 3 months or  
14 6 months, and then switch over to ALKS 3831, will  
15 mitigate the weight gain. That would require an  
16 additional randomized period post-olanzapine.

17 The only data that we have that's  
18 potentially suggestive was in the FDA presentation  
19 of 302, which included a 1-week olanzapine lead-in  
20 period, and then patients who gained weight were  
21 stratified in that period.

22 So although that's a very exploratory early

1 study and we can't make any comments about whether  
2 that pattern would be seen in future studies, there  
3 was some suggestion of an enhanced treatment effect  
4 in patients who gained weight with olanzapine in  
5 that 1-week period. Beyond that, I think it's just  
6 speculation.

7 DR. NARENDRAN: Does that answer your  
8 question, Dr. Krebs?

9 DR. KREBS: Yes, I think so. Thank you.

10 DR. NARENDRAN: I do not see any more  
11 questions for the agency. Does anybody else have  
12 any more questions for the agency? We have 10 more  
13 minutes. I see a couple of hands.

14 Dr. Dunn, do you have a follow-up question?

15 DR. W. DUNN: Yes, a follow-up question  
16 maybe for one of the biochemists at the agency.

17 Can you comment on the binding affinity of  
18 those metabolites compared to a standard opiate  
19 that someone would be taking? I appreciate that  
20 metabolites have a 10-fold lower binding affinity  
21 compared to samidorphan, but how does it compare to  
22 a standard mu agonist people will take for

1 analgesia?

2 DR. FARCHIONE: This is Tiffany Farchione  
3 again. I think the person we would need in order  
4 to actually answer that question is not in the  
5 presenter room, so we would have to actually get  
6 that person and call them in and everything. We'd  
7 also apparently have to look up the answer as well,  
8 but we can try to get that to you. There's no  
9 direct comparison studies, but we can try to get  
10 that response to you before the end of our part of  
11 the session here.

12 DR. W. DUNN: Yes, that would be great. I'm  
13 just trying to -- yes, if you could get --

14 DR. FARCHIONE: Yes.

15 DR. W. DUNN: -- if you can give me some  
16 idea of how problematic it's going to be, how does  
17 it compare to morphine, or oxycodone, or something  
18 like that. Thank you.

19 DR. FARCHIONE: Yes. I also wonder if  
20 perhaps the applicant has a ready answer to this.  
21 Again, like I said, we have to look this up, but  
22 perhaps they're prepared with a backup slide or

1 something of that nature.

2 DR. AKERMAN: Yes. Just to clarify the  
3 question, are you asking about the binding affinity  
4 of samidorphan?

5 DR. W. DUNN: No, the metabolites, the  
6 metabolite that has the mu agonist activity.

7 DR. AKERMAN: Yes. And maybe before I turn  
8 it over to Dr. Rege to speak to that, I want to  
9 also mention that we've conducted a thorough human  
10 abuse potential study, and in our clinical data, we  
11 see no evidence of abuse potential.

12 Dr. Rege?

13 DR. REGE: Yes. Bhaskar Rege, clinical  
14 pharmacology in Alkermes. I think your concern is  
15 whether the metabolite would impart any  
16 pharmacologic effect. I think there's a bit of  
17 evidence clinically speaking. I mentioned  
18 remifentanil, but I can also show you the human  
19 abuse potential study data that also confirm that  
20 there was no indication of any [inaudible - audio  
21 fades] metabolite, which in vitro has shown to be a  
22 mu agonist.

1           Slide up, please. As the slide is coming  
2 up, what you will see on the slide is I'm going to  
3 show you the primary endpoint analysis data, and  
4 that relates to the [inaudible - audio fades]. The  
5 slide is going to take a little bit of time to come  
6 up, but let me explain in terms of -- [inaudible].

7           Actually, the human abuse potential study  
8 for samidorphan, that was done up to 30 milligrams,  
9 which is 3 times the target dose of samidorphan.  
10 The study was conducted in accordance -- yes,  
11 that's the study. On the screen here, you will see  
12 that the data for the primary endpoint, which is  
13 the difference of the Emax drug liking visual  
14 analog scale relative to placebo, is plotted, and  
15 what you see is a point estimate, as well as a  
16 90 percent interval.

17           There were 5 treatments in this study, but  
18 there were 2 doses of samidorphan used, the  
19 10-milligram, which is a target dose, and a  
20 30-milligram, which is a supratherapeutic dose but  
21 3 times higher. The study also included a negative  
22 control, which is naltrexone at 100 milligram, and

1 also 2 positive controls with oxycodone, and then  
2 pentazocine.

3 With the positive control of oxycodone and  
4 pentazocine, which you see as a dotted line and a B  
5 at 15, it was considered to test whether the study  
6 had an adequate assay sensitivity, and the criteria  
7 there was the lower interval to be at least above  
8 15, and that's in accordance with FDA guidelines.  
9 You see that both oxycodone and pentazocine data  
10 suggested that the study had adequate assay  
11 sensitivity.

12 The next point of comparison was whether  
13 samidorphan was different than placebo. The  
14 criteria that was used in accordance with  
15 discussion with the agency is that the upper  
16 90 percent interval to be below 11, and that 11  
17 threshold was set based on VS1 [indiscernible]  
18 analysis on multiple, historical HAP study data,  
19 looking at the placebo responses. Prespecified  
20 criteria that was set as 11, both samidorphan doses  
21 10 and 30 were well below that 11 threshold and  
22 similar to naltrexone.

1           Again, I think these data also suggested  
2           that the data we have in terms of in vitro for a  
3           metabolite really didn't confer any effects  
4           clinically speaking, whether it's an abuse  
5           potential signal or any of the PK/PD data that has  
6           been looked at in the ability of samidorphan to  
7           block remifentanil effects.

8           DR. W. DUNN: Yes, a quick follow-up  
9           question. The way these studies were conducted,  
10          how long after the last administration of  
11          samidorphan were the subjects interrogated about  
12          their liking for the compound?

13          DR. REGE: It was up to 72 hours after the  
14          dose of samidorphan.

15          DR. W. DUNN: So the assumption is, at that  
16          point, there should have been no more new blockade  
17          from the samidorphan, and if there was any effect  
18          from the metabolite, it would be unopposed  
19          activity at the mu receptor. Would that be  
20          correct?

21          DR. REGE: That's correct. So at 72 hours,  
22          there shouldn't be any mu blockade [inaudible -

1 audio fades].

2 DR. W. DUNN: Okay. Thank you.

3 DR. NARENDRAN: Two more questions for the  
4 agency.

5 DR. FARCHIONE: Sorry. Raj, this is  
6 Tiffany. Before we move on, I do just want to  
7 emphasize that the agency agrees with what the  
8 applicant just presented as far as their human  
9 abuse potential study is concerned. I'm hoping  
10 that that covers Walter's question adequately.

11 DR. W. DUNN: Yes. Thank you.

12 DR. FARCHIONE: Okay. Thanks.

13 DR. NARENDRAN: Thank you, Tiffany, for the  
14 clarification.

15 The last two questions, Dr. McAninch?

16 DR. McANINCH: Actually, this is Jana  
17 McAninch from FDA.

18 DR. NARENDRAN: Oh.

19 DR. McANINCH: I wanted to just follow up on  
20 a question that was asked earlier, but if there's a  
21 new question, we should probably go to that first,  
22 and then I can comment afterwards.

1 DR. NARENDRAN: Dr. Meisel?

2 DR. MEISEL: Hi. Thanks, Raj. Steve Meisel  
3 from Fairview, a question for the agency here.  
4 There is a drug called Contrave that the agency  
5 approved a year or two ago for weight loss that is  
6 in many respects comparable because it's got  
7 naltrexone in it. It's designed for weight loss,  
8 but has the same risks, at least in principle; if  
9 somebody needs emergency opioids because they come  
10 into the ED after a trauma or something like that,  
11 or require surgery, or dental procedures or  
12 something.

13 Could the agency please comment on the  
14 relative risk of opioid overdose, or lack of  
15 effectiveness, or those kinds of elements with  
16 Contrave versus the product the applicant is asking  
17 for today?

18 DR. STAFFA: This is Judy Staffa from FDA.  
19 We did look into this, as you'll remember from our  
20 background materials, as well as Dr. Mallama's  
21 presentation. There are some similarities because  
22 we saw this as a product that was approved for a

1 population that contains an opioid antagonist, but  
2 it's not approved for use in a population that  
3 actually has opioid-use disorder. So it was a  
4 different group than the other populations out  
5 there who are administered naltrexone, as was  
6 talked about before.

7 We looked into our postmarketing experience,  
8 and I'll turn it over to some of our speakers that  
9 are more familiar with those detailed data. But  
10 again, we also noted that this population is not  
11 exactly the same as the population taking a product  
12 that contains an opioid antagonist for weight loss.  
13 This is a different population.

14 So we included it because it is the only  
15 product we know of that has that particular  
16 characteristic, so I'm glad you brought it up  
17 because I think we do think it might be relevant.  
18 But we've done the review, and I think there's some  
19 limited information available, and I'll turn it  
20 over to I think Dr. Lopez for that part of the  
21 review.

22 Is that correct, guys?

1 DR. MEISEL: If I could just clarify my  
2 question because in both of these cases, the  
3 prescriber of the target drug, whether it's this  
4 one or Contrave, would be different than the people  
5 who would be prescribing an opioid for an unrelated  
6 condition. So it's that educational, phase 4 type  
7 of stuff.

8 DR. STAFFA: That's correct, yes. That's  
9 what we thought, because we also noted that in the  
10 Contrave label, opioids are contraindicated, yet in  
11 one of our reviews, we noted that I think around 11  
12 percent of those patients did have evidence of an  
13 opioid when we looked at prescription data.

14 We did also note that when we look at the  
15 olanzapine population, the current patients treated  
16 with olanzapine, when we look at national  
17 prescription data, we also see that in those  
18 patients, if we take a snapshot and look over the  
19 most recent year, that about 20-21 percent of those  
20 patients have a prescription for an opioid.

21 So we were trying to get an understanding of  
22 the differences between those populations. But

1 again, Dr. Lopez can provide a little bit of  
2 information about what we saw in the Contrave  
3 review. But just remembering that samidorphan is  
4 not approved as part of any other product, so we  
5 really can't look directly at another product with  
6 samidorphan, and I think we've already talked about  
7 some of the differences between samidorphan and  
8 naltrexone.

9 Dr. Lopez, can you just provide a high-level  
10 view of what you saw in the review?

11 DR. LOPEZ: Yes. This is Alicia Lopez. The  
12 review looked at data that came from the FDA  
13 adverse event reporting system. Within that  
14 review, we were looking specifically at  
15 interactions or patients who had an adverse event  
16 who were using both an opioid at the same time as  
17 they were using Contrave.

18 What we ended up seeing was there were  
19 13 specific cases where we could identify somebody  
20 using those two medications concomitantly and where  
21 they did experience symptoms that were associated  
22 with an opioid withdrawal syndrome. We also

1 identified one case, which was previously  
2 discussed, about an inadequate analgesic effect for  
3 somebody who was not taking an opioid regularly and  
4 had an acute incident where they needed to have an  
5 opioid for surgery.

6 Now with that, it is important to note the  
7 limitations of the FAERS data as well as the  
8 strength of it. So as far as strength goes, that  
9 data includes all marketed products approved and  
10 off-label uses, as well as all patient populations.  
11 So it is looking at a large portion of the patient  
12 population. The limitations to it, though, are  
13 that we cannot assess a causal relationship between  
14 the event and the product, but fortunately it  
15 contains enough information for us to fully extract  
16 the relationship to the event. Also, we don't  
17 receive every report of an event that can occur, so  
18 there is usually an underreporting of the events  
19 within that data.

20 Something else that I would like to point  
21 out that we did notice, that there were some cases  
22 where a patient who was prescribed an opioid or

1 prescribed Contrave and were using those  
2 concomitantly, and the prescriber wasn't always  
3 aware of that concomitant use. So the prescriber  
4 may have prescribed Contrave to somebody who was  
5 previously established on an opioid without being  
6 made aware by the patient that they were using an  
7 opioid or vice versa.

8           There was also evidence of nonmedical use or  
9 somebody may have used a product that was not  
10 prescribed to them, or misused I should say. They  
11 were not using a product prescribed to them, but it  
12 may have been prescribed to somebody else, so then  
13 they had these two medications on board and they  
14 wouldn't have had the opportunity to be counseled  
15 by a prescriber about the potential for an adverse  
16 event.

17           DR. STAFFA: This is Judy Staffa again. I  
18 just want to add some more clarification. Thank  
19 you, Dr. Lopez.

20           When I spoke about the prescription data  
21 that we saw, that about 11 percent of patients who  
22 had a prescription for Contrave also had a

1 prescription for an opioid, that raises concern  
2 because, clearly, they were prescribed an opioid,  
3 but what we don't have a window into is to whether  
4 that was prescribed with instructions to stop  
5 taking the other product while taking the opioid or  
6 to hang on to the opioid. We don't know what  
7 specific instructions may have come with that. We  
8 just know that the patient had a prescription in  
9 their name for both.

10 DR. MEISEL: Thank you. That's helpful.

11 DR. NARENDRAN: We don't have any more  
12 questions from the members, so Dr. McAninch, if you  
13 could take over.

14 DR. McANINCH: Sure. Thank you.

15 This is Jana McAninch from the Division of  
16 Epidemiology. There was a question earlier about  
17 off-label use of olanzapine. I just wanted to  
18 point out some information that is in your FDA  
19 briefing document on diagnoses associated with use  
20 of olanzapine based on an office-based physician  
21 survey. This is on page 107 of the PDF or page 49  
22 of the attended Division of Epidemiology review.

1           Basically, it looks like 31.5 percent of  
2 mentions were associated with a diagnosis of  
3 schizophrenia; 21.3 percent schizoaffective  
4 disorders; and 20.3 percent bipolar disorders. So  
5 cumulatively, it's about 73 percent of those top  
6 three with the remainder consisting of different  
7 depressive disorders, anxiety, adjustment, and  
8 psychosis codes, in case that's helpful.

9           DR. NARENDRAN: Thank you, Dr. McAninch.

10           I think with that, we will now break for  
11 lunch. We can reconvene in one hour sharp, at 1  
12 o'clock as scheduled, a 55-minute lunch. Panel  
13 members, please remember there should be no  
14 chatting or discussion of the meeting topics with  
15 other panel members during the lunch break.  
16 Additionally, you should plan to rejoin a little  
17 bit earlier, 12:45, to ensure you're connected  
18 before we reconvene at 1 o'clock. Thank you.

19           (Whereupon, at 12:06 p.m., a lunch recess  
20 was taken.)

21

22

1                   A F T E R N O O N   S E S S I O N

2                                           (1:00 p.m.)

3                                           **Open Public Hearing**

4                   DR. NARENDRAN: We will now begin the open  
5 public hearing session.

6                   Both the FDA and the public believe in a  
7 transparent process for information gathering and  
8 decision-making. To ensure such transparency at  
9 the open public hearing session of the advisory  
10 committee meeting, FDA believes that it is  
11 important to understand the context of an  
12 individual's presentation.

13                   For this reason, FDA encourages you, the  
14 open public hearing speaker, at the beginning of  
15 your written or oral statement to advise the  
16 committee of any financial relationship that you  
17 may have with the sponsor, its product and, if  
18 known, its direct competitors. For example, this  
19 financial information may include the sponsor's  
20 payment of expenses in connection with your  
21 participation in the meeting.

22                   Likewise, FDA encourages you at the

1 beginning of your statement to advise the committee  
2 if you do not have any such financial  
3 relationships. If you choose not to address this  
4 issue of financial relationships at the beginning  
5 of your statement, it will not preclude you from  
6 speaking.

7 The FDA and this committee place great  
8 importance in the open public hearing process. The  
9 insights and comments provided can help the agency  
10 and this committee in their consideration of the  
11 issues before them. That said, in many instances  
12 and many topics, there will be a variety of  
13 opinions. One of our goals today is for this open  
14 public hearing to be conducted in a fair and open  
15 way, where every participant is listen to carefully  
16 and treated with dignity, courtesy, and respect.  
17 Therefore, please speak only when recognized by the  
18 chairperson. Thank you for your cooperation.

19 Speaker number 1, your audio is connected  
20 now.

21 MS. FOXWORTH: Dear committee members, thank  
22 you for the opportunity to address you today. My

1 name is Phyllis Foxworth, and I am with the  
2 Depression and Bipolar Support Alliance. DBSA was  
3 created for and led by individuals who themselves  
4 have a lived experience. It is this first-person  
5 experience that informs our comment. We have not  
6 received funding from the sponsor to speak at the  
7 meeting today, however, we have received funding  
8 from the sponsor for activities at DBSA.

9 Living with bipolar can be life-threatening.  
10 The risk of death by suicide is 10 to 30 times  
11 higher than the general population. It is  
12 estimated that 20 to 60 percent will attempt  
13 suicide at least once in their life, yet, the  
14 history of therapeutic interventions to treat  
15 bipolar have been delayed due to societal stigma  
16 and negligence. Until the mid-90s, the only  
17 medication available was lithium salt.

18 Bipolar is far from a problem solved. Many  
19 people discontinue a therapeutic intervention  
20 because of the side effects. A DBSA study revealed  
21 weight gain as a side effect that most led patients  
22 to discontinue medication. This side effect cannot

1       only have a severe impact on individual's physical  
2       health, but can take a tremendous toll on their  
3       mental health.

4               For example, the report for the 2018 DBSA  
5       Patient-Focused Drug Development meeting identified  
6       the inability to live up to one's professional  
7       potential as a major impact of the disorder. While  
8       there are a myriad of reasons behind this  
9       challenge, the role of weight gain brought on by  
10      therapeutic interventions must be considered.

11             Not only do studies reveal that  
12      discrimination around body weight is rampant in our  
13      society, but employers often make hiring and  
14      promotion decisions based on a person's weight.  
15      This includes access to highly visible projects  
16      that can lead to promotion or outright dismissal.

17             Whole health is just as important. Forty  
18      percent of respondents to a DBSA survey stated  
19      their overall health was worse since first  
20      experiencing symptoms. Contributing to this  
21      revelation is that many people are forced to weigh  
22      the risks-benefits of side effects and make

1 difficult decisions on whether to prioritize  
2 treatment of their physical health or their mental  
3 health. This is a very personal decision, yet many  
4 within the medical and scientific community label  
5 people who choose to make a difficult decision to  
6 prioritize their physical health over there mental  
7 health as non-compliant.

8 DBSA holds it's not patients that are  
9 non-compliant, but the medical and scientific  
10 community that is non-compliant by not providing  
11 adequate therapeutic interventions. DBSA urges the  
12 committee to put the patient at the center of  
13 decision-making around approval of this  
14 application. When treating bipolar, success should  
15 be defined by considering the patient's  
16 whole-health requirements and their own unique  
17 definition of a life well lived.

18 If I have communicated anything, I hope it  
19 is this. Patients count. Bipolar is not a problem  
20 solved. Patients want and need solutions that  
21 support a pathway to whole health and thriving.  
22 Individuals will evaluate the risks and benefits of

1 solutions based on their own life circumstances. I  
2 respect there are many variables taken into account  
3 when considering this application, however, I urge  
4 the advisory committee to prioritize  
5 patient-desired treatment outcomes as part of your  
6 evaluation. Thank you for your time today.

7 DR. NARENDRAN: Thank you.

8 Speaker number 2, your audio is connected  
9 now. Please introduce yourself.

10 MR. SPERLING: Good afternoon. My name is  
11 Andrew Sperling, and I'm with the National Alliance  
12 on Mental Illness. I've received no compensation  
13 from the sponsor to appear here today. NAMI does  
14 receive financial support for support programs and  
15 other activities from the sponsor.

16 I've been at the National Alliance on Mental  
17 Illness now for more than 20 years, and I can tell  
18 you in all honesty that NAMI is the nation's  
19 largest advocacy organization, advocating on behalf  
20 of people living with mental illness and their  
21 families. Maybe in all my years at NAMI, I have  
22 yet to meet someone living with schizophrenia, or

1 any other major mental disorder, or their family  
2 members, that's completely content with the  
3 treatments that are available. They all have  
4 complicated side effects. They take a long time.  
5 There is often 6 to 8 weeks, after you initiate  
6 therapy, before you see any clinical benefit.

7 But we do know that some are better than  
8 others, and this was validated in the NIMH CATIE  
9 trial more than 15 years ago, and olanzapine had  
10 the lowest switch rate; in other words as the proxy  
11 for efficacy. But we also know from the CATIE  
12 trial that there was significant weight gain and  
13 metabolic syndrome associated with olanzapine that  
14 you've heard about this morning.

15 We believe at NAMI that patients should not  
16 have to choose between significant weight gain or  
17 efficacy and effectiveness in dealing with their  
18 side effects, and that's why we want to see newer,  
19 better treatments. This is not an incremental  
20 lens [indiscernible]; this is a game changer for  
21 people that are at risk of obesity and all the  
22 complications of diabetes that come with it.

1 I'm going to close on a personal note. My  
2 brother James has been living with schizophrenia  
3 since 1996. He has tried just about every  
4 medication that's out there. I could go through  
5 the long list, but I don't have time. But his  
6 longest period of clinical stability was from about  
7 2008 to about 2015 when he was on Zyprexa, when he  
8 was on olanzapine. But I can tell you, he put on  
9 significant weight. My estimation during that  
10 period, probably close to 70 pounds. He now faces  
11 that dilemma, do I go with the most efficacious  
12 drug knowing that I have significant weight gain  
13 associated with it?

14 Patients should not have to make this  
15 choice. We need to do better and we need better  
16 treatments. I appreciate your time, and thank you  
17 for your attention.

18 DR. NARENDRAN: Thank you.

19 Speaker number 3, your audio is connected  
20 now.

21 MR. KRAMER: Thank you. Good afternoon. My  
22 name is Luke Kramer. I'm the executive director

1 for the STARR Coalition. We're a nonprofit  
2 organization, bridging the gap between mental  
3 health, research, and advocacy. In disclosure, the  
4 STARR has received one grant from Alkermes since  
5 our inception six years ago, but we have not  
6 received any honorarium or other reimbursements for  
7 our participation today.

8 I once worked with a man who I would watch  
9 cling to a telephone pole screaming. He later  
10 described being at the top of a tall building,  
11 being battered by storms, and about to fall to his  
12 death, and he lived his life in terror. I also  
13 worked with another gentleman who spent every  
14 waking moment convinced he was dying, and all he  
15 could do was smell his own flesh rotting.

16 There are countless stories like this, and I  
17 know many of us know countless people who right at  
18 this moment have had their lives torn apart by  
19 schizophrenia and bipolar. I'm honored to have my  
20 office in a day treatment facility where over 100  
21 brave individuals with treatment-resistant mental  
22 illness come each day. They all know that we're

1 meeting, and several have submitted letters to you  
2 guys. But we talked extensively about this  
3 particular compound, especially the opioid  
4 component and their concerns.

5 Even with those with a history of  
6 dependency, every comment came back around to,  
7 "Look, we trust our treatment team and we're  
8 willing to try anything that can relieve our  
9 symptoms." Someone even asked would we even be  
10 talking about an opioid component if it was a  
11 proven drug for prostate cancer or heart disease?

12 I've been watching the transcript kind of  
13 come up through the bottom and imagined, what if we  
14 substituted the word "schizophrenia" and "bipolar"  
15 with the term "terminal cancer"?

16 If we had a medication that we showed that  
17 reduced tumors but had adverse side effects, and  
18 then the company decided to invest years of  
19 research and untold resources to transform that  
20 compound into something that would still stop the  
21 growth of tumors, but then would drastically reduce  
22 harmful side effects; if it was any other

1       indication, would we be sitting here today debating  
2       whether people should have access to this  
3       life-saving therapy?

4               We're not here with really any concern about  
5       the efficacy of this compound; we believe that the  
6       data speaks for itself. But we are concerned with  
7       people like me, and my peers, and others who are  
8       living with the diagnosis and the lack of urgency  
9       for getting new therapies to the public. We are  
10       concerned when we see respected biopharmaceutical  
11       companies peel away from this space because they've  
12       given up on this process or they see inequity with  
13       mental health therapies.

14              We all know that recovery is much much more  
15       than just swallowing a pill, but we also know,  
16       without medications that are proven to be  
17       effective, we, many of us, cannot even begin to get  
18       a foothold to begin that journey. Please consider  
19       those who are looking to all of us to be a voice  
20       for them. Thank you for your time.

21              DR. NARENDRAN: Thank you.

22              Speaker number 4, your audio is connected

1 now.

2 MS. BERNSTEIN: Good afternoon, and thank  
3 you for allowing me the opportunity to speak with  
4 you today. I've not received financial  
5 consideration from the sponsor to speak, however,  
6 DBSA does receive funding for sponsorship programs.

7 My name is Kathy Bernstein, and while I work  
8 with the Depression and Bipolar Support Alliance,  
9 today I speak on behalf of myself, a daughter and a  
10 caregiver to my mother. Today I speak from our  
11 family's experience in caring for our mother, a  
12 woman who raised 6 kids, a husband, and managed a  
13 chaotic household, who now at the age of 84 lives  
14 with dementia in an assisted-living community and  
15 is in need of complete care.

16 Today I will share how medications designed  
17 to stabilize moods have had a life-changing impact  
18 on my mother, her ability to care for herself, and  
19 subsequently our family. Mom has lived with  
20 anxiety and depression for which she has taken  
21 medication most of her adult life. The untimely  
22 death of two children only exacerbated her

1 symptoms. As she aged, she began showing signs of  
2 dementia. Her cognitive and executive functioning  
3 deteriorated slowly, accelerating at times with  
4 increased anxiety. At the same time, Mom began  
5 losing feeling in her hands and feet, limiting her  
6 mobility.

7 As we mentioned, Mom was superwoman. She  
8 was an amazing cook, social, and smart. She loved  
9 to read books. She could do the New York Times  
10 Sunday crossword puzzle, and she was an avid bridge  
11 player. Two years ago, my mom fell and fractured  
12 her femur, which changed her life. She was  
13 hospitalized and surgery was performed. Because of  
14 her dementia and anxiety, and perhaps heightened by  
15 the quickness in which things around her happened,  
16 as well as pain medication she was given, she  
17 became unhinged, disoriented, verbally abusive, and  
18 combative.

19 Her doctor added olanzapine to her  
20 medication regimen, and while it helped settle her  
21 down and made it easier to care for her, it had  
22 negative consequences, too. Weight gain, one of

1 the known side effects, was dramatic. Mom quickly  
2 shot up in weight from 140 to 160 pounds. For a  
3 woman who had limited mobility to begin with, this  
4 was a game changer. Rehabilitation was impossible.  
5 She was too weak to carry the excess weight that  
6 occurred in what seemed like overnight.

7 Today she's a hundred percent  
8 wheelchair-bound. She cannot get up on her own out  
9 of a chair. She can't leave her room. She can't  
10 toilet herself or shower herself. She needs  
11 complete care. Because she's dead weight, I am no  
12 longer to take her out of the facility she lives  
13 in. She's stuck. And while she's less combative  
14 and calmer, her executive functioning has decreased  
15 significantly and she gets very disoriented at  
16 times. She can no longer play bridge, read books,  
17 or do those crossword puzzles.

18 My mom, the patriarch of our family, is  
19 gone. Her quality of life is gone. She tells me  
20 every day that she prays for God to take her.  
21 There has to be more options that may help people  
22 like my mom who need medications to be well in one

1 aspect of life that don't take away from other  
2 areas. Thank you.

3 DR. NARENDRAN: Speaker number 5, your audio  
4 is connected now.

5 MS. STALTERS: I'm Linda Stalters, the CEO  
6 of Schizophrenia and Related Disorders Alliance of  
7 America or SARDAA. We've received no financial  
8 support from the sponsor to participate in this  
9 hearing and we have received sponsorship funding  
10 for our activities from the sponsor.

11 I was formerly an APRN with a background in  
12 education, in-patient home health care, and a solo  
13 practitioner specializing in schizophrenia  
14 spectrum, bipolar, and borderline personality  
15 illnesses. I now serve as an executive and  
16 advocate for the most rarely neuropsychiatrically  
17 ill and pursuing improved treatments and services  
18 while educating and supporting diagnosed  
19 individuals, their families, professionals, and the  
20 public.

21 It is critically important for you to  
22 acknowledge these illnesses can be terminal

1 illnesses without treatment. Over 50 percent of  
2 individuals living with psychosis do not receive  
3 medication or care. Thus, without treatment,  
4 approximately 170,000 are homeless and almost  
5 380,000 are incarcerated.

6 I have cared for and heard reports from many  
7 patients that olanzapine was very effective in  
8 managing their symptoms, but they either  
9 discontinued without notifying their clinician or  
10 changed to a less effective medication due to the  
11 unacceptable weight gain, and slid into psychosis  
12 that's leading them to homelessness or  
13 incarceration.

14 People with a brain illness such as  
15 schizophrenia or bipolar deserve treatment and  
16 care, not brutality of homelessness or  
17 incarceration. I actually had a patient once who  
18 said they would rather die of diabetes than give up  
19 taking olanzapine because of their symptom relief.  
20 We know that people with these illnesses die on  
21 average 10 to 28 years earlier than the general  
22 population. Some of these early deaths can be

1 attributed to the side effects such as metabolic  
2 disorder, sometimes related to medications.

3           There is more hope for people with  
4 schizophrenia spectrum and bipolar if they receive  
5 well-tolerated, efficacious treatment. Don't  
6 people with these illnesses deserve a meaningful  
7 and fulfilling life? Of course they do.

8 Antipsychotic medications, including olanzapine,  
9 are the primary medications for people living with  
10 schizophrenia and bipolar disorder, however  
11 symptomatic individuals and their caregivers  
12 continue to suffer and beg for symptom relief  
13 without side effects such as weight gain.

14           There is little research and development for  
15 new and improved medications for schizophrenia and  
16 bipolar illnesses. Development of new efficacious  
17 medications is vitally important to improve and  
18 actually save millions of lives affected by these  
19 illnesses. The introduction of Alkermes 3831 and  
20 olanzapine/samidorphan, showing similar symptom  
21 management of olanzapine without the weight gain,  
22 is an important medication advancement for people

1 who struggle to live a meaningful life in the space  
2 of psychosis. Therefore, I support the approval of  
3 Alkermes 3831.

4 DR. NARENDRAN: Thank you.

5 Speaker number 6, your audio is connected  
6 now.

7 MS. HAMMER: Hello. Hi. I'm Michelle  
8 Hammer. I created the Mental Health Clothing  
9 Brand, Schizophrenic NYC to start conversations  
10 about mental health, and I received no funding from  
11 the sponsor. I think what's different about me  
12 speaking right now is that I have schizophrenia,  
13 and I take olanzapine, and I have to say that  
14 olanzapine really did kind of change my life. It  
15 made my head calm, it made my brain quiet, and I  
16 was warned before I took olanzapine that it could  
17 really make me gain weight.

18 The first week I took olanzapine, I believe  
19 I gained about 10 pounds, but what I did is I told  
20 my doctor I gained 10 pounds in a week, and he  
21 said, "Oh. Here's another pill. Just take this  
22 pill, and you'll lose that weight." And that was

1 really convenient. All I had to do is take another  
2 pill, which is the good, but it would be convenient  
3 if I didn't have to take another pill.

4 I think the more frustrating side effects of  
5 olanzapine is the complete sedation. If I take  
6 olanzapine before I go to bed at night, which I do,  
7 just like last night, my partner started vomiting  
8 up blood, and I had no idea this even happened.  
9 Apparently, I said things in the middle of the  
10 night like, "Oh, I'm sleeping. Leave me alone,"  
11 which is extremely rude, but I have no memory that  
12 I said that. And if I had not taken olanzapine, I  
13 would have been able to help my partner who was  
14 vomiting up blood, but I couldn't because  
15 olanzapine knocks you out so hard.

16 Also, I've slept till 4 p.m. in the  
17 afternoon because of olanzapine. There are a lot  
18 of things that need to be changed, and I think it's  
19 not just the weight gain. I think there are a lot  
20 more options that can be changed about olanzapine  
21 and psychiatric drugs, and everything for  
22 schizophrenia drugs. There's more than just weight

1 gain options I would say, and that's really what I  
2 wanted to mostly get across because weight gain is  
3 a big deal.

4 I have friends that have gained a lot of  
5 weight on psych meds, and it just makes them  
6 extremely depressed, and that's going to affect  
7 your mental health as well. When you're extremely  
8 depressed because you're overweight, how does that  
9 help your depression? It really doesn't make any  
10 sense, does it?

11 So really, there's my story. I take  
12 olanzapine. I like it. I wish there was something  
13 where I didn't have to take something for the side  
14 effects, but there are tons of side effects with  
15 it. So I think we do need to come up with  
16 something better, and I support what Alkermes is  
17 doing. Thank you.

18 DR. NARENDRAN: Thank you.

19 Speaker number 7, your audio is connected  
20 now.

21 DR. SEYMOUR: Thank you for the opportunity  
22 to speak today on behalf of the National Center for

1 Health Research. I am Dr. Meg Seymour, a senior  
2 fellow at the center. Our center analyzes  
3 scientific and medical data to provide objective  
4 health information to patients, health  
5 professionals, and policymakers. We do not accept  
6 funding from drug or medical device companies, so I  
7 have no conflicts of interest.

8 We agree that weight gain and metabolic risk  
9 is a major problem with atypical antipsychotics  
10 because of the health risks and because weight gain  
11 can result in patients discontinuing their  
12 medication. Although ALKS 3831 studies suggest  
13 that fewer patients taking the drug gain more than  
14 10 percent of their baseline body weight than those  
15 taking olanzapine alone, we have concerns regarding  
16 its safety compared to an absolute difference  
17 between the groups below 14 percent, and perhaps as  
18 low as 12 percent.

19 The risk-to-benefit equation is particularly  
20 questionable because most of the patients in the  
21 weight gain study were male, black, overweight at  
22 baseline, and were adults 55 years old or younger.

1 None of us can predict how generalizable these  
2 findings would be for most patients who take  
3 antipsychotics. Many of them are white, some of  
4 them are women, and many of whom are teenagers or  
5 over 55.

6 As FDA scientists noted in their review,  
7 there's a considerable risk that as an opioid  
8 antagonist, samidorphan may lead to opioid  
9 withdrawal symptoms or to accidental overdose.  
10 Regardless of whether patients are prescribed an  
11 opioid or are abusing them, these risks are  
12 present. These risks are especially concerning for  
13 patients with bipolar disorder, who are more likely  
14 to be abusing opioids in the general population.

15 Labeling alone is not enough to mitigate  
16 these risks. Patients and providers should have  
17 actual data on the safety of the drug for those  
18 with opioid dependence. It is not enough to assume  
19 that patients and prescribers will heed or  
20 understand the warnings of the label. Although  
21 fewer than 14 percent of patients may benefit from  
22 this drug by experiencing lower weight gain, the

1 risks are too high to justify approval. Thank you.

2 DR. NARENDRAN: Thank you.

3 Speaker number 8, your audio is connected  
4 now.

5 DR. AQUILA: Good afternoon. My name is  
6 Ralph Aquila. I am a psychiatrist. I have had the  
7 good fortune of having different roles in my  
8 30-year career. I was actually involved in the  
9 HGAJ trials, which were the trials that helped  
10 launch olanzapine, commercially known as Zyprexa  
11 back in the day.

12 What I do nowadays, I work on the west side  
13 of Manhattan, an area called Hell's Kitchen.  
14 Nowadays, it's actually referred to more as Hell's  
15 Kitchenette because the neighborhood's changed  
16 quite a bit since when I started there. But what I  
17 continue to do is treat hundreds of patients,  
18 mostly that have been on olanzapine. I will say  
19 that olanzapine has been a major benefit for the  
20 vast majority of the patients that I've treated.

21 One of the things that I think is important  
22 to underscore and something that I, along with my

1 team, have been looking at since the early '90s is  
2 medical comorbidities. I think some of the  
3 previous speakers underscored excess mortality,  
4 particular in schizophrenia, of anywhere from  
5 15 to 25 years sooner than the general population;  
6 anything that can help us to mitigate these  
7 horrible adverse events.

8 In particular, I think the new Alkermes  
9 product with the addition of samidorphan is  
10 something that can be extremely helpful in the  
11 trenches when we're looking at how do we get  
12 patients with schizophrenia to move forward with  
13 their lives.

14 Again, I've been involved in numerous  
15 research trials. I do believe that  
16 second-generation agents are superior to  
17 first-generation agents. And again, what I've seen  
18 is that olanzapine has been an extremely helpful  
19 medication. The weight gain that then can lead to  
20 other metabolic factors is certainly something that  
21 is a major concern, but I would say, as I have  
22 looked at the data of the new product, the Alkermes

1 product, I think that it's certainly worth trying  
2 in patients. I have spoken with my patients about  
3 it, and I know that they also would very much like  
4 to try an olanzapine that might mitigate the weight  
5 gain. So I am really interested in doing that.

6 I do apologize. I left out at the  
7 beginning, I am not receiving any compensation for  
8 this presentation at the moment, but I am on the  
9 advisory board for Alkermes for this specific  
10 molecule and have received compensation in the past  
11 for that, but not receiving anything for today. I  
12 want to thank you for your attention, and good  
13 afternoon.

14 DR. NARENDRAN: Thank you.

15 Speaker number 9, your audio is connected  
16 now.

17 (No response.)

18 DR. NARENDRAN: Speaker number 9, you may  
19 want to unmute yourself.

20 DR. PODAWILTZ: Alright. Can you hear me?

21 DR. NARENDRAN: Yes, we can hear you now.  
22 Thank you.

1 DR. PODAWILTZ: Thank you for this  
2 opportunity to address the joint meeting. My name  
3 is Alan Podawiltz and I am not being remunerated  
4 for this presentation today. However, I am a  
5 primary investigator at study sites for the  
6 Alkermes A307 and 308 studies, which supports the  
7 activities here in my department. I have no other  
8 sponsorship conflicts to report.

9 I'm a psychiatrist working in Fort Worth,  
10 Texas. I participated in the Texas Medical  
11 Algorithm Project in the '90s that included, at  
12 that time, the newly released olanzapine  
13 medication. I've been familiar with olanzapine for  
14 treatment of schizophrenia for 23 years. I found  
15 olanzapine to be one of the most effective  
16 medications in the treatment of psychosis caused by  
17 schizophrenia and bipolar. I've seen patients  
18 recover quicker from their acute psychosis, remain  
19 in remission from their psychosis longer, and  
20 attaining a significantly higher quality of life.

21 However by 2005, it became evident that the  
22 patients prescribed olanzapine could gain weight

1 and contribute to what we now call metabolic  
2 syndrome. The weight gain and metabolic concerns  
3 often limited my long-term use of olanzapine. I  
4 was pleased to be invited to the study of this new  
5 medicine. There are three cases I'd like to  
6 report, one from 1998 and the two most recently  
7 from the medication being discussed today.

8 Case 1, a 38-year-old male, 20 years of  
9 debilitating symptoms of schizophrenia. He had  
10 been hospitalized greater than 20 times in local  
11 and state psychiatric facilities and initiated  
12 treatment with olanzapine. As he improved, not  
13 only did his psychosis stabilize, but he gained  
14 insight into his chronic illness.

15 As a standard, I asked about the dose of  
16 medication, side effects, and if he thought the  
17 medication could be increased or decreased. After  
18 about 2 months, he, without my prompting this time,  
19 stated that he thought the dose should be  
20 increased. I increased the dose of olanzapine.

21 He had worked as a bellman at a local hotel  
22 off and on for years. In the spring of our work

1 together, he decided to start a lawn care company.  
2 By the end of the first summer, he had 5 employees.  
3 He diversified for the winter to include holiday  
4 lights. He never returned to the hospital in that  
5 3 years I worked with him. He did gain 30 pounds.

6 I'd like to note that I'm not aware of which  
7 arm of olanzapine/samidorphan study; the next two  
8 cases were randomized.

9 Case 2, a 21-year-old female, first-break  
10 schizophrenia caused her to drop out of college her  
11 junior year. She was really psychotic with  
12 derogatory internal stimuli and intrusive paranoid  
13 thoughts that others could hear her voices in her  
14 head. Over the course of about 4 weeks, she  
15 admitted to less and less intrusive thoughts and  
16 the belief that others could hear her thoughts.  
17 She stabilized over the next 9 months and  
18 subsequently returned to school; no weight gain.

19 Case number 3, 20-year-old male, first-break  
20 schizophrenia going to school on an athletic  
21 scholarship, experiences significant psychotic  
22 break requiring psychiatric hospitalization. Over

1 the course of a year, he's no longer isolating at  
2 home and does not think that others are out to hurt  
3 him. He is currently in the process of  
4 interviewing for a public service job; no weight  
5 gain.

6 The medication discussed today would be my  
7 choice for acute and long-term treatment for  
8 schizophrenia and bipolar disorders. Thank you.

9 DR. NARENDRAN: Thank you.

10 Speaker number 10, your audio is connected  
11 now.

12 MR. BULLARD: Thanks. My name is Chris  
13 Bullard. Thanks for having me. I live with  
14 bipolar I diagnosis. I work in the field of mental  
15 health with Sound Mind Live. We're an organization  
16 focused on ending the stigma around mental health  
17 through the power of music. I've also, for the  
18 past two years, led a music support program for  
19 those diagnosed with mental illness at NAMI here in  
20 New York City. I've not received payment from the  
21 sponsor to be here today. Sound Mind Live as an  
22 organization has received fiscal support for some

1 of our events.

2 I'm here to tell the committee about the  
3 huge impact and side effects that olanzapine has  
4 had on myself and those I've worked with who live  
5 with bipolar disorder. After each time I've been  
6 hospitalized, I've been put on olanzapine, and each  
7 time, while it's really helped me to stabilize,  
8 I've also been extremely reticent to take it  
9 because of the side effects it's been known to  
10 have.

11 Since my initial diagnosis, I've also sat in  
12 countless bipolar support groups, and while these  
13 groups are, more than anything, usually a source of  
14 hope for those attending, they can also sometimes  
15 breed a sense of fear and discouragement around  
16 certain medications because of the side effects,  
17 and olanzapine is definitely one of those in some  
18 cases.

19 In these groups I've attended, we've  
20 consoled people who now live with severe weight  
21 gain issues, diabetes, and women who have pointed  
22 to olanzapine as being a big contributor to this,

1 and also seeing a number of people go off their  
2 meds and refuse to take olanzapine after their  
3 first hospitalization because they fear they'll see  
4 the same kind of side effects or weight gain in the  
5 long term.

6 I myself have really struggled also with  
7 that sedation impact of it, missing work, leaving  
8 me personally to take less of the drug than  
9 prescribed in the past, which I regret and hope we  
10 as a mental health community can really work  
11 towards this weight gain issue and the sedation  
12 effects that also were mentioned earlier.

13 I think all medications tend to have side  
14 effects, but these side effects shouldn't make the  
15 very prospect of living a healthy life  
16 unachievable. Olanzapine has this immense  
17 potential to really help stabilize individuals and,  
18 speaking from lived experience, can really help  
19 with psychotic features. However, it's still  
20 currently among those drugs that, with people I've  
21 worked with and spoken to, has led to people having  
22 medication fatigue of continually searching for a

1 medication that's not going to lead to these side  
2 effects that are causing things like severe weight  
3 gain or heavy sedation.

4 If we can make any strides towards lessening  
5 those side effects, I think it's a huge step in the  
6 right direction, which is why I definitely support  
7 trying to reduce these side effects in terms of  
8 weight gain associated with the drug. So again, I  
9 really appreciate you taking the time to listen to  
10 me today, and thanks.

11 DR. NARENDRAN: Thank you.

12 Speaker number 11, your audio is connected  
13 now.

14 MR. WRIGHT: Hi. My name is Jason Wright,  
15 and I received no compensation from the sponsor but  
16 have in the past been involved in an advisory  
17 board. I'm happy to be able to tell you my story,  
18 not the story of where I'm going but where I've  
19 been. The story of where I'm going is being  
20 written still.

21 Real quick though, I'm the editor and  
22 founder of Oddball Magazine and the president of

1 the Oddball Foundation, a 501(c)(3) pending  
2 nonprofit foundation, newly established to promote  
3 mental health and social justice advocacy through  
4 art. I'm also a podcaster for the Oddball Show,  
5 have written two books and a third on the way, and  
6 I'm a proud husband of a great wife, and I have a  
7 dog, Obie [ph]; good to know. But it wasn't easy  
8 to get where I am today. My life medicated began  
9 at 15 when I was diagnosed ADHD. I did not realize  
10 that this medication that I would take would soon  
11 become a catalyst to more medications.

12 Over 25 years later, I think that I might be  
13 on the right regimen but still think there's room  
14 for adjustment. In that 25 years, I've struggled  
15 to find the right medication, the right living  
16 situation, the right doctor, the right job, the  
17 right life. I went from a happy carefree kid to a  
18 problem-riddled, confused and sad adult. I was a  
19 smoker and a drinker, and I used that along with my  
20 medication to get through, and I did. I also wrote  
21 poetry and practiced guitar as well.

22 In that 25 years, I went from a thin kid, to

1 a fat kid, to a thin adult, to a fat adult, to  
2 where I am now, which I would say was the former,  
3 and sadly now the latter due to an unprecedented  
4 time full of uncertainty in walking out in the  
5 street and jeopardizing my safety of myself or  
6 others.

7 The extreme nature of this pandemic and the  
8 sedative nature it has caused has made me gain  
9 about 27 pounds from where I was at before. But  
10 before that, before this pandemic, the weight was  
11 from the meds. That 25 pounds that I gained back I  
12 had lost from the 30 pounds I gained from the  
13 weight-gaining antipsychotic, olanzapine.

14 I tried my hardest to get off olanzapine  
15 though I noticed the effects were good, but I had  
16 to make a choice, a slightly effective medication  
17 with horrible weight gain causing me a bad  
18 self-image and possibly so much more problems, or  
19 make a change, a leap of faith to basically the  
20 last med that there was that I could take. And  
21 it's not great, but it's what I have right now.

22 I live a good life. If I stayed on the

1       olanzapine, I don't know where I would be. I've  
2       heard people gain much more than 30 pounds on that  
3       drug, and I still know people who take it. I  
4       couldn't let myself be a med statistic due to  
5       medication. I wanted to speak up and say that the  
6       reason that this medication doesn't always work for  
7       people is because the side effects are often worse  
8       than the original feelings of sadness, auditory  
9       hallucination, or whatever it may be. And sadly,  
10      once one is on medication, it seems impossible to  
11      get off of it. That is a difficult pill to  
12      swallow.

13                But where I am at now, drug-free, healthy,  
14      successful, smoke-free for over 4 years now, I  
15      didn't get there without the help of the right  
16      medications. I am still working on the right  
17      balance and we are trying to balance something.  
18      But one thing that I think I might have finally got  
19      right is my meds, and that took about 25 years to  
20      do. There are still days when I feel that I'm not  
21      where I want to be, but that's the ups and downs of  
22      life, I guess.

1           Please consider my story only as a recovery  
2 story because that sad, confused adult is no longer  
3 confused or sad. I'm doing really well, excited  
4 for what the Oddball Foundation is going to do for  
5 the mental health community and how this world will  
6 change once we can change it. Thanks for  
7 listening. I am Jason Wright, editor of Oddball  
8 Magazine, podcast host of the Oddball Show, and the  
9 president of the Oddball Foundation. If you want  
10 to find out more about us or the Oddball  
11 Foundation's mission, please visit  
12 [oddballmagazine.com](http://oddballmagazine.com), the Oddball Foundation. Thank  
13 you.

14           DR. NARENDRAN: Thank you.

15           Speaker number 12, your audio is connected  
16 now.

17           DR. McINTYRE: Nice to be with you all this  
18 afternoon, and good afternoon. I'm Roger McIntyre,  
19 psychiatrist and Professor of Psychiatry and  
20 Pharmacology at the University of Toronto. I also  
21 head the Mood Disorders Psychopharmacology Program  
22 at University Health Network in Toronto. I'm very

1       pleased to say I'm also the chair of the Scientific  
2       Advisory Board for the Depression and the Bipolar  
3       Support Alliance.

4               Affiliations, professional aside for a  
5       moment, what I am is an advocate, and I've been a  
6       passionate advocate of people who have been  
7       affected by mood disorders, where I've been  
8       privileged to be employed for the better part now  
9       of almost two and a half decades now. Throughout  
10      that journey, wearing the hat as an advocate, as a  
11      clinician, as a researcher, and as a person  
12      involved in policy and best practices, it is  
13      abundantly clear that the state of the union for  
14      treatments in bipolar must improve. It is a  
15      national health high priority that treatments must  
16      improve, and access to alternatives is a priority.  
17      We didn't need COVID-19, but COVID-19's only  
18      amplified the urgency.

19              In my experience and certainly in my  
20      research, what has certainly been the case is that  
21      individuals who are so privileged to have access in  
22      a timely way to high-quality coordinated health

1 care, the great majority either do not sufficiently  
2 respond and/or have problems with intolerability.

3 Weight gain with psychotropic agents  
4 broadly, especially with the second-generation  
5 class, with certain members of the class including  
6 but not limited to olanzapine being, in fact, one  
7 of the most common offending agents, the  
8 implications of weight gain, you've been hearing  
9 about it. It's obvious. I think it's very clear.  
10 It's not something that people desire. You have  
11 weight that's inappropriate to their height and  
12 their overall health, and we know that it not only  
13 leads to people stopping medication but also people  
14 not even starting medications in the first place,  
15 and that unnecessarily prolongs the suffering, and  
16 that unnecessarily leads to what could be  
17 progression of illness.

18 There's also a story unfolding, and a very  
19 concerning story. As people gain more weight, it  
20 could have very significant adverse effects on  
21 their cognitive abilities, and certainly we don't  
22 want to make more harm for people who are affected

1 for bipolar disorder.

2 So it's very clear that having an option  
3 would be generally welcomed. Having a specific  
4 option addressing the unmet needs in serious mental  
5 illness, who are candidates for antipsychotics, and  
6 a treatment with lower weight-gain liability would  
7 certainly be a tremendous alternative and option  
8 for our field. I'll finish by saying I have not  
9 been provided any compensation for being here  
10 today. Thank you everyone for giving me your  
11 attention.

12 DR. NARENDRAN: Thank you.

13 Speaker number 13 has withdrawn, so we'll  
14 proceed to speaker number 14. Speaker number 14,  
15 your audio is connected now.

16 MS. PLOTNICK: Thank you. My name is Debbie  
17 Plotnick, and I am the vice president for state and  
18 federal advocacy at Mental Health America. I have  
19 received no compensation for being here today,  
20 although Mental Health America does receive some  
21 financial support for its programs from the  
22 sponsor.

1           I come here today to talk about my personal  
2           experience as a family member of a daughter with  
3           bipolar disorder and through my personal training  
4           as a social worker. My daughter was experiencing  
5           the effects of her bipolar disorder, including  
6           extreme suicidality in early adolescence. One of  
7           the medications that she was given straight away  
8           was olanzapine. As I look back at her pictures at  
9           that time, she had been a skinny kid. She's built  
10          like her dad. She's long and lean, but her  
11          pictures from late in middle school and high school  
12          show a very chubby individual, and that is because  
13          of olanzapine, and that was something that she then  
14          refused to take going forward.

15                 At the same time that my daughter was  
16                 experiencing this, I was in graduate school as a  
17                 social worker, and one of the programs that I  
18                 worked for was Clubhouse, where I met people of all  
19                 different ages, many of whom were taking  
20                 olanzapine. The reason I know they were taking  
21                 olanzapine was as soon as they started taking it,  
22                 or when I met them -- they didn't necessarily tell

1 me their medications, many of them did -- they were  
2 very heavy. The young people would tell me how  
3 uncomfortable this made them, how do you find a  
4 boyfriend or a girlfriend, and they would go off  
5 their medication.

6 The people who are my age -- and here I was  
7 a slightly older student going back to graduate  
8 school -- they were my age, and they had diabetes,  
9 and they weighed a lot, a lot more than they should  
10 have. Many of the people that I worked with  
11 started dying, and they would have heart attacks,  
12 and they would die from many things related to  
13 metabolic disorder, and it was heartbreaking.

14 But in my work now, one of the things that I  
15 work on is making sure people have access to  
16 medication. Well, many people don't want to take  
17 the medications that they have access to such as  
18 olanzapine, and they are blamed for that as being  
19 non-compliant. But what we have to do is we have  
20 to offer people an alternative that will help them  
21 in getting better, in feeling better, and engaging  
22 in life, and not having to choose whether or not

1 they die in their 40s or they're able to have  
2 ongoing relationships. Thank you.

3 DR. NARENDRAN: Thank you.

4 Speaker number 15, your audio is connected  
5 now.

6 DR. BALLON: Good morning. My name is  
7 Dr. Jacob Ballon. I'm a clinical associate  
8 professor at Stanford University. I am the medical  
9 director of our locked, inpatient acute unit at  
10 Stanford, as well as the co-director of the INSPIRE  
11 Clinic, which is our psychosis clinic, focusing  
12 primarily on people in early psychosis.

13 I have previously been on an advisory board  
14 with the sponsor and am an investigator in one of  
15 the trials. I want to focus a bit on my experience  
16 with this drug and with the patients in trials, as  
17 well as thinking about where this drug fits in  
18 clinically beyond that.

19 I have been part of the enlightened early  
20 study, looking at the use of this medication in  
21 people in the early stages of bipolar illness or  
22 schizophrenia. It's been remarkable to me that

1 when I look at not just the part which has been  
2 randomized, where I'm not sure which medication a  
3 person might be on, but when I look beyond to the  
4 extended phase of the study where I know it's open  
5 label and people are on this medication, how  
6 tenacious people have been in wanting to maintain  
7 this medication, including traveling at great  
8 personal expense in order to be able to stay in the  
9 study that they might otherwise have to discontinue  
10 because they would be too far away to logistically  
11 return otherwise.

12 Many of the patients that I have in this  
13 continuation phase of the study are working  
14 full-time or have resumed going to school  
15 full-time, a testament largely to the efficacy of  
16 olanzapine that we have heard many people talk  
17 about, but also their general willingness to stay  
18 on this medication because of the fact that they've  
19 been able to tolerate it very well.

20 It's no secret that olanzapine is an  
21 excellent medication, but that the weight gain can  
22 cause all kinds of problems such that I would

1 otherwise want to use olanzapine for people in the  
2 early stages of illness, but I often cannot because  
3 of that risk. Beyond the issues with adherence to  
4 medication, I don't want to subject people to  
5 potentially life-shortening side effects and  
6 life-altering morbidity.

7 I'm looking forward to hearing more of the  
8 results of early psychosis because I think this  
9 medication fits very well in that stage of illness  
10 for people, where we can hopefully get people to  
11 take a medication that they find both very  
12 effective for their psychiatric illness and  
13 tolerable to take, setting them on a course for  
14 staying engaged in a psychiatric treatment and  
15 feeling comfortable with their medication for  
16 long term. We've heard people talk already today  
17 about how challenging it can be.

18 Weight gain is an important side effect. It  
19 is not the only side effect, but it is one that  
20 sets the course for a number of other metabolic  
21 problems that can come down the line. So I'm very  
22 strongly in support of this medication. I have

1       seen the benefits for people already, and I thank  
2       you all for your attention.

3               DR. NARENDRAN: Thank you.

4               Speaker number 16 has withdrawn, so we will  
5       proceed to speaker number 17. Your audio is  
6       connected now.

7               DR. ABRAMS: Good afternoon. Can you hear  
8       me ok?

9               DR. NARENDRAN: Yes, we can hear you.

10              DR. ABRAMS: Oh, very good, and thank you  
11       for teeing up my slides there for me. I'm Michael  
12       Abrams, a health researcher at Public Citizen, and  
13       I have no financial conflicts of interest to  
14       disclose.

15              Regarding efficacy, the primary endpoint for  
16       this medication is of course weight gain as we've  
17       heard. Evidence presented shows that the addition  
18       of samidorphan does not eliminate weight gain  
19       associated with olanzapine administration. It only  
20       reduces that weight gain by an absolute amount of  
21       approximately 2 percent, well below the 5 percent  
22       goal for weight-loss drugs cited in the FDA

1 briefing document on page 8 specifically.

2           Additionally, this small effect was not  
3 coupled with same-direction, significant  
4 differences across a number of metabolic and  
5 cardiovascular health indicators, including mixed  
6 results regarding waist circumference, blood  
7 pressure changes, and unfavorable glycemc trends  
8 summarized by these two slides from the sponsor on  
9 the left and the FDA on the right.

10           Next slide, please. Moreover, unfavorable  
11 or null results regarding lipid  
12 parameters -- evident in the left panel, a slide  
13 from the sponsor -- and unfavorable glycemc trends  
14 are highlighted in the center graph of the right  
15 panel as well. As I said, both slides here are  
16 taken from the sponsor.

17           Regarding safety, there's of course been  
18 expressed a clear concern, noted by both the  
19 sponsor and the FDA, that use of an opioid receptor  
20 antagonist, samidorphan, comes with substantial  
21 risk for opioid overdose and death, as persons with  
22 psychosis have especially high risk for

1 substance-use disorders, the slide on the left from  
2 Dr. Goda. I must add, individuals taking  
3 olanzapine, as we know, have substantial risks for  
4 medication discontinuity, which adds to that  
5 concern. Additionally, use of samidorphan carries  
6 with it risk of inadequate pain control from  
7 opioids when such pain control is needed. The  
8 right-hand slide from the FDA reminds us that over  
9 1 in 5 adults on olanzapine concurrently use opioid  
10 analgesia. Moreover, data on quality of life,  
11 which should be noted as a key patient-reported  
12 outcome, does not support this medication's overall  
13 benefit-to-risk profile over olanzapine alone.

14 Accordingly, Public Citizen concludes that  
15 this particular application for  
16 olanzapine/samidorphan as a treatment for  
17 schizophrenia or bipolar offers only marginal  
18 benefits in weight gain reductions at best, with no  
19 or few physiologic or patient-oriented improvements  
20 demonstrated by clinical trials. Moreover, it  
21 intensifies what can be regarded as real risks for  
22 opioid overdose and death.

1           We thus recommend that the advisory  
2 committee vote no on the three basic questions  
3 listed here on this slide, which you'll be talking  
4 about later today, and moreover that the FDA not  
5 approve this combination medication. Thank you  
6 very much.

7           DR. NARENDRAN: Thank you.

8           Speaker number 18, your audio is connected  
9 now.

10           DR. NIERENBERG: Thank you. My name is  
11 Dr. Andrew Nierenberg. I am the director of the  
12 Dalton Family Center for Bipolar Treatment  
13 Innovation at Massachusetts General Hospital and  
14 Professor of Psychiatry at Harvard Medical School.  
15 I have been doing clinical practice now for close  
16 to 40 years, and I've also been deeply engaged in  
17 research, mostly in mood disorders and depression,  
18 and for the past 20 years, focusing on bipolar  
19 disorder.

20           Within bipolar disorder, my expertise, both  
21 in terms of clinical practice and research, is in  
22 bipolar depression, and as many of you may know,

1 the options for bipolar depression are limited, and  
2 currently there are only four FDA-approved  
3 treatments for bipolar depression, including the  
4 olanzapine/fluoxetine combination, quetiapine,  
5 lurasidone, and cariprazine. Out of those, the  
6 olanzapine/fluoxetine combination has the largest  
7 risk of weight gain and metabolic syndrome, and  
8 because of that, it is actually rarely used.

9 In many of the talks that I give -- and I  
10 ask people what they're actually using out of those  
11 four -- it's usually less than 1 percent. The  
12 paradox is that for some patients, for reasons  
13 unclear, they will only respond to  
14 olanzapine/fluoxetine. So the fact that they  
15 cannot, only not, be prescribed it by their  
16 prescribers, and they can frequently reject that as  
17 an option because of weight gain, emphasizes the  
18 importance of having the samidorphan/olanzapine  
19 combination together.

20 Now, I failed to mention that I am not being  
21 paid to be on this call, but I have been on the  
22 scientific advisory board for Alkermes, but it is

1 really in my role as a clinician and a researcher  
2 that I advocate that this be approved. Thank you  
3 for your time.

4 DR. NARENDRAN: Thank you.

5 Speaker number 19, your audio is connected  
6 now.

7 DR. McEVOY: Thank you. My name is  
8 Joseph P. McEvoy, MD. I am a Professor of  
9 Psychiatry at the Medical College of Georgia. I do  
10 clinical trials and have done so for multiple  
11 decades. I have done clinical trials supported by  
12 Alkermes. My clinical research has focused on the  
13 biology and treatment of severe mental illness,  
14 schizophrenia and bipolar disorder. In particular,  
15 I served as the co-principal investigator of the  
16 CATIE schizophrenia trials.

17 The goal of somatic treatment and  
18 psychosocial management for severe mental illness  
19 is sustained remission of positive affect of  
20 psychopathology. Sustained remission implies that  
21 psychopathology like hallucinatory perceptions,  
22 delusional beliefs, and disorganization of thought

1 are brought to levels of mild or less. In other  
2 words, they do not interfere with or drive  
3 behavior. They're not intrusive or distressing.  
4 Uninterrupted long-term treatment with an effective  
5 antipsychotic medication is necessary for sustained  
6 remission.

7 The primary outcome measure of the CATIE  
8 schizophrenia trials was time to all-cause  
9 treatment discontinuation. Treatment continuation  
10 implied that both treating clinicians and treated  
11 patients agreed that an assigned medication was  
12 adequately effective and tolerable enough to keep  
13 going. Olanzapine won the CATIE schizophrenia  
14 trials; that is among the non-clozapine  
15 antipsychotic medications, patients and clinicians  
16 kept it going longer.

17 As many of the clinicians who've already  
18 spoken attest, olanzapine helps patients with  
19 severe mental illness to sleep, it rarely produces  
20 subjectively distressing extrapyramidal side  
21 effects such as akathisia, and I believe the  
22 evidence is compelling that it reduces the

1 intensity of positive psychopathology more  
2 effectively than the other non-clozapine  
3 antipsychotic medications.

4 I believe that for these reasons, patients  
5 with severe mental illness assign value to  
6 olanzapine in their economies. They take it more  
7 consistently and they're more likely to achieve  
8 sustained remission. However, as has been noted,  
9 weight gain and undesirable changes in metabolism  
10 greatly reduce the use of olanzapine, denying its  
11 benefits to many patients.

12 The olanzapine/samidorphan combination is  
13 only a small incremental step towards making  
14 olanzapine more tolerable and therefore more  
15 available to patients with severe mental illness.  
16 I believe that the addition of samidorphan only  
17 partially reduces the associated weight gain and  
18 does little, if anything, to mitigate olanzapine's  
19 unwanted metabolic effects. However, this is very  
20 much the same pattern, the same constellation, we  
21 see in the broader population struggles with  
22 obesity, insulin resistance, and dyslipidemia.

1 There are no single agents that produce miraculous  
2 improvement across everything. We combine  
3 treatments.

4 I believe that the olanzapine/samidorphan  
5 combination takes us an important step closer to  
6 improve management of psychopathology with  
7 olanzapine, accompanied by very acceptable levels  
8 of tolerability, and I hope to be able to welcome  
9 it into our armamentarium. Thank you.

10 DR. NARENDRAN: Thank you.

11 Speaker number 20, your audio is connected  
12 now.

13 MS. MCGOUGH: Hi. My name is Cecilia  
14 McGough. I am the executive director of the  
15 nonprofit Students With Psychosis, formerly known  
16 as Students With Schizophrenia. Our mission is to  
17 empower student leaders and advocates worldwide  
18 through community building and collaboration.  
19 Students with Psychosis and me personally were not  
20 financially compensated for our time or  
21 contribution to this testimony today. Students  
22 with Psychosis has not received grant funding

1 through this sponsor.

2 The community of people living with  
3 schizophrenia deserve more treatment options.  
4 Weight gain side effects from medications can lead  
5 to or contribute to unhealthy eating patterns,  
6 self-image struggles, and staying compliant to  
7 medication. As a person who lives with  
8 schizophrenia and an active voice and participant  
9 within the schizophrenia community, I speak to  
10 students living with psychosis every single day. I  
11 can say with confidence that we are not satisfied  
12 with the limited options in treatment and advocate  
13 for more variety in care, such as in medication  
14 choices, to help empower schizophrenia community  
15 members. We want more options.

16 I started my medication journey back in 2014  
17 during my sophomore year at Penn State.  
18 Admittedly, no one comes fully prepared when faced  
19 with a diagnosis of schizophrenia, but what I was  
20 most certainly not prepared to navigate through was  
21 an additional eating disorder due to the side  
22 effects of medication, which impact my quality of

1 life to this day.

2 I gained 60 pounds in my first treatment,  
3 which further shattered my self-image issues and  
4 put strains on the romantic relationship that I was  
5 in, but more importantly put strains on my  
6 relationship with myself. Tackling this eating  
7 disorder consumed my life and was an additional  
8 burden that should not have been carried. This  
9 changed how I ate, this changed how I spent my  
10 time, this changed my self-image, and ultimately  
11 contributed to going off medication multiple times,  
12 sick psych work days, an additional behavior of  
13 self-harm, suicidal thoughts, and depression. I  
14 felt like both my mind and my body were against me  
15 and at war. If I chose to take my medication, I  
16 sided with my brain health. If I stopped taking  
17 medication, I sided with maintaining a healthy  
18 weight.

19 Schizophrenia treatment should not feel like  
20 a war within yourself. This war can lead to  
21 serious consequences such as unhealthy eating  
22 patterns, self-image struggles, and staying

1 compliant to medication. Empower schizophrenia  
2 community members by giving them more treatment  
3 options and help mitigate weight gain side effects.  
4 Thank you for your time.

5 DR. NARENDRAN: Thank you.

6 Speaker number 21, your audio is connected  
7 now.

8 DR. KOSTEN: Thank you very much. This is  
9 Dr. Thomas Kosten. I'd like to thank you for this  
10 opportunity and note that I've got no compensation  
11 from the sponsor for today's presentation, but in  
12 the past I have had some grant support from the  
13 sponsor related to studies in opiate dependence,  
14 not related to this particular medication. I'm a  
15 Professor of Psychiatry, Pharmacology and  
16 Neuroscience at Baylor College of Medicine, and I'm  
17 the director of the substance-abuse division there.

18 What I'd like to talk about is opiate  
19 antagonist safety and that there is a lack of  
20 interactions with older drugs, except opioids,  
21 which are blocked, and if an opiate-dependent  
22 person were to take it, it would precipitate

1 withdrawal. Samidorphan, though, is safe at the  
2 doses it has been given, and there are actually  
3 several studies supporting that. Samidorphan is an  
4 opioid antagonist.

5 I've worked clinically with antagonists like  
6 naltrexone and samidorphan for over 40 years and  
7 established the naltrexone treatment program at  
8 Yale in 1980. It was the first treatment program  
9 in the country for this, and this was for opioid  
10 relapse prevention. I've published review papers  
11 on the safety of naltrexone and other opioid  
12 antagonists such as nalmeffene.

13 As an example, naltrexone daily dose is  
14 50 milligrams a day and has been safely given at up  
15 to 500 milligrams per day. That's 10 times the  
16 usual dose. However, at 600 milligrams, which is,  
17 again, about 10 times that dose, some obese  
18 patients have had elevations of liver function  
19 tests, but these were transient and reversible  
20 after stopping the naltrexone. Thus, this very low  
21 dose of samidorphan will be safe even with  
22 potential overdoses of the combined medication.

1           If opiates are used while taking the  
2           samidorphan combination, nothing will happen.  
3           They'll be no adverse reactions. There will be  
4           simply no effect of the opiates on pain or  
5           respiration. You cannot overdose. It's completely  
6           safe. However, in response to one of the previous  
7           speakers who wanted to ask about pain management,  
8           pain management opiates is in fact possible.  
9           Sentinel can be used at larger dosages, and it will  
10          in fact provide analgesia.

11          Samidorphan itself also has no increase in  
12          overdoses and, in fact, it's reducing overdoses.  
13          It's a blocker. And the potential after  
14          discontinuing samidorphan, or this medication, or  
15          any opioid antagonist, that you'd be more sensitive  
16          to having an overdose is a myth. There is no data  
17          to support that whatsoever. So this is a  
18          completely safe drug, and I laud the company for  
19          putting it together, and I think it is a service to  
20          the patients with bipolar and schizophrenia. Thank  
21          you very much.

22          DR. NARENDRAN: Thank you.

1           Speaker number 22, your audio is connected  
2 now.

3           DR. CORRELL: Thanks very much. My name is  
4 Christoph Correll. I'm Professor of Psychiatry and  
5 Molecular Medicine at Zucker School of Medicine in  
6 New York as an adult psychiatrist, and I'm also a  
7 child psychiatrist, and I'm the Chair and Professor  
8 of Child Psychiatry at the Charité University in  
9 Berlin. I've been a consultant, advisor, and data  
10 safety monitoring board member for most of the  
11 companies that make antipsychotics and other  
12 psychotropic medications. I've been an advisor and  
13 also author on data for Alkermes ALKS 3831. I am  
14 not receiving any compensation for my giving my  
15 opinion during this public hearing.

16           Since I've been involved in part of the data  
17 collection and interpretation, I will not speak at  
18 all on the data. Obviously, the committee has a  
19 difficult task of evaluating the benefits and  
20 potential risks, and that's never easy, and  
21 obviously there are lots of data on the table to do  
22 that with. But I want to speak as a clinician and

1 clinical researcher with over 20 years of  
2 experience and also having spent really half of my  
3 life researching side effects because as a child  
4 psychiatrist, I see side effects quite a bit in a  
5 vulnerable, antipsychotic naive population.

6 To put the olanzapine risk into context, in  
7 our satiety study that we published in 2009 in  
8 JAMA -- and these were children and adolescents who  
9 were antipsychotic naive, no more than one week of  
10 exposure, and many people now get olanzapine as one  
11 of the first choices in the emergency room or also  
12 on units -- the mean, the average weight gain in  
13 12 weeks, was 19 pounds; 19 pounds with olanzapine.  
14 This is not the chronic patients you're seeing in  
15 these studies.

16 Actually, the number needed to harm, again,  
17 more than 7 percent or the same, was 1 for  
18 olanzapine; 14 percent in these youngsters was 2  
19 for olanzapine; and 21 percent of weight gain in  
20 12 weeks, the number needed to harm was 4.

21 So we have a medication that can be quite  
22 helpful, and you've heard other testimonies, but it

1 has a lot of weight gain. Making the weight gain  
2 smaller, even though this will not be a perfect  
3 drug, even though we have other antipsychotics that  
4 have less weight gain or almost no weight gain at  
5 the moment, there are patients that require  
6 olanzapine and who only respond to olanzapine.

7 If you or I had to take a medication that  
8 works for us and has special efficacy, and it has a  
9 lot of side effects, and we could take the exact  
10 same medication in terms of efficacy but had less  
11 weight gain, would we not want that? While weight  
12 gain has multiple implications, adherence goes  
13 down.

14 Melissa DelBello, who also put a written  
15 statement in, and I were co-PIs at PCORI,  
16 Patient-Centered Outcomes Research Institute,  
17 funded study, where we randomized children and  
18 adolescents who are on antipsychotics, including  
19 also olanzapine, to receive Metformin or not, 1460  
20 patients that have already been randomized. We did  
21 a survey with NAMI and the Depression and Bipolar  
22 Support Alliance, asking family members and

1 patients what should be our primary outcome for  
2 this Patient-Centered Outcomes Research Institute  
3 funded study, and we were dead set, it must be  
4 quality of life.

5           What did the patients choose? Weight, where  
6 the biological marker that's easy to measure, that  
7 is our primary outcome, because patients and  
8 families recognized that many of the problems that  
9 come with second-generation antipsychotics,  
10 including poor quality of life, being bullied,  
11 having also problems with adherence, center around  
12 weight.

13           Now, you've seen that at least in the data,  
14 there seems to be little of a metabolic signal, but  
15 we know that weight gain over time and waist  
16 circumference increase is related to metabolic  
17 outcomes, and when real-world patients are treated,  
18 as I told you in these antipsychotic naive  
19 patients, there will be a lot of weight gain, and  
20 mitigating this can be helpful.

21           When asking family members -- and that's the  
22 study that Roger McIntyre published who spoke

1 earlier --

2 DR. NARENDRAN: Sorry. I think we have to  
3 conclude. I apologize.

4 DR. CORRELL: Okay. Then the last point is  
5 there must be also a consideration of risks,  
6 obviously, and I want to say that less than  
7 10 percent of people with schizophrenia have opioid  
8 dependence. I believe that with a REMS program,  
9 that should not deter the 90 percent of people with  
10 schizophrenia who can potentially also be treated  
11 with olanzapine --

12 DR. NARENDRAN: Thank you.

13 DR. CORRELL: -- to not receive --

14 **Questions to the Committee and Discussion**

15 DR. NARENDRAN: Thank you. I appreciate  
16 your point. Thank you.

17 The open public hearing portion of this  
18 meeting is now concluded and we will no longer take  
19 comments from the audience. The committee will now  
20 turn its attention to address the task at hand, the  
21 careful consideration of the data before the  
22 committee, as well as the public comments.

1           We will proceed with the questions to the  
2 committee and the committee discussion. I would  
3 like to remind public observers that while this  
4 meeting is open for public observation, public  
5 attendees may not participate, except at the  
6 specific request of the panel. After I read each  
7 question, we will pause for any questions or  
8 comments concerning its wording, then we will  
9 proceed with the voting. Our first three questions  
10 are voting questions. Dr. Bonner will provide the  
11 instructions for the voting.

12           Dr. Bonner?

13           DR. BONNER: Thank you, sir.

14           LaToya Bonner, DFO. Questions 1, 2, and 3  
15 are voting questions. Voting members will use the  
16 Adobe Connect platform to submit their vote for  
17 this meeting. After the chairperson has read the  
18 voting question into the record and all questions  
19 and discussion regarding the wording of the vote  
20 question are complete, the chairperson will  
21 announce that voting will begin.

22           If you are a voting member, you will be

1 moved to a voting breakout room. A new display  
2 will appear where you can submit your vote. There  
3 will be no discussions during the voting. You  
4 should select the radio button -- that is the round  
5 circular button -- in the window that corresponds  
6 to your vote, yes, no, or abstain. You should not  
7 leave the "no vote" choice selected. Please note  
8 that you do not need to submit or send your vote.  
9 Again, you need only to select the radio button  
10 that corresponds to your vote.

11           You will have the opportunity to change your  
12 vote until the vote is announced as closed. Once  
13 all voting members have selected their vote, the  
14 DFO will announce that the vote is closed. Next,  
15 the vote results will be displayed on the screen.  
16 I will read the vote results from the screen into  
17 the record, then the chairperson will go down the  
18 roster, and each voting member will state their  
19 name and their vote into the record. You can also  
20 state the reason why you voted as you did if you  
21 choose. We will continue in the same manner until  
22 all questions have been answered or discussed.

1           Are there any questions about the voting  
2 process before we begin?

3           (No response.)

4           DR. BONNER: Okay. I will turn the meeting  
5 back over to the chair.

6           DR. NARENDRAN: Thank you.

7           Question number 1, has the applicant  
8 presented adequate evidence that samidorphan  
9 meaningfully mitigates olanzapine-associated weight  
10 gain?

11           Are there any questions about the wording of  
12 the question from the panel members? Please raise  
13 your hand if there are.

14           (No response.)

15           DR. NARENDRAN: I don't see any questions,  
16 no hands, so I think we could proceed. You will  
17 now begin voting on question number 1.

18           Dr. Bonner, you can take over.

19           DR. BONNER: LaToya Bonner, DFO. We will  
20 now move voting members to the voting breakout room  
21 to vote only. There will be no discussion in the  
22 voting breakout room.

1 (Voting.)

2 DR. NARENDRAN: The voting has closed and is  
3 now complete. The vote results are displayed. I  
4 will read the total votes into the record. For  
5 question 1, 16 yeses, 1 no, zero abstain. I will  
6 turn the meeting over to the chair.

7 DR. NARENDRAN: Thank you.

8 We will now go down the list and have  
9 everyone who voted state their name and vote into  
10 the record. You may also provide justification for  
11 your vote if you wish to. We'll start with the  
12 first person on the list, and then you guys can  
13 kind of go down and proceed.

14 Dr. Iyengar?

15 (No response.)

16 DR. NARENDRAN: Dr. Iyengar, if you could  
17 unmute yourself.

18 DR. IYENGAR: Hello?

19 DR. NARENDRAN: Go ahead, Dr. Iyengar.

20 DR. IYENGAR: Okay. Sorry. This is Satish  
21 Iyengar from Pittsburgh, and I voted yes. I was  
22 largely convinced by Study 303. Thank you.

1 DR. NARENDRAN: Dr. Racher?

2 (No response.)

3 DR. NARENDRAN: Dr. Matthew Racher, can you  
4 please unmute yourself?

5 MR. RACHER: Hi. This is Matthew Racher,  
6 certified recovery peer specialist and patient  
7 representative from Miami. I voted yes. I do  
8 believe that there's a meaningful mitigation of  
9 weight gain associated with this medication.

10 DR. NARENDRAN: Dr. Jain?

11 DR. JAIN: Hello. This is Dr. Felipe Jain.  
12 I voted yes. Thank you.

13 DR. NARENDRAN: Dr. Krebs?

14 DR. KREBS: Hi. This is Erin Krebs. I  
15 voted yes for the populations studied. I do think  
16 it's an important question about whether there  
17 would be mitigation of weight gain in people who  
18 are already on olanzapine, because I think many  
19 people will wonder if switching to this new product  
20 would help them with weight gain or not.

21 DR. NARENDRAN: Dr. Boudreau?

22 DR. BOUDREAU: Hi. Denise Boudreau, and I

1 voted yes for some of the reasons that have already  
2 been stated and would echo what Erin Krebs just  
3 said, and will also say it will be interesting to  
4 see in real-world populations the difference, and  
5 I'm curious if there is significant difference in  
6 weight gain.

7 DR. NARENDRAN: Thank you.

8 Dr. Fiedorowicz?

9 DR. FIEDOROWICZ: Yes. This is Jess  
10 Fiedorowicz in Ottawa. I also voted yes. I did  
11 want to add some clarification here as well about  
12 why I did. My expertise is in psychiatry and  
13 obesity medicine, and usually we have a 5 percent  
14 threshold for clinically meaningful weight loss.  
15 This 2.8 percent doesn't cross that, but I felt  
16 like we really need to consider the tremendous  
17 individual variability in risk for weight gain with  
18 olanzapine and some other antipsychotics, and for  
19 me that made the co-primary categorical outcome of  
20 more than 10 percent weight gain compelling and for  
21 which a 50 percent reduction was shown.

22 I don't often advocate for dichotomizing

1 continuous data, but I think, given the individual  
2 variability here, a good argument can be made. I  
3 also think there's compelling reason to prevent and  
4 focus on prevention of weight gain, given that once  
5 a new weight setpoint is set, but the brain  
6 continues to defend that fat mass. So I felt that  
7 this is indeed clinically meaningful even though it  
8 doesn't cross the traditional 5 percent threshold  
9 for weight-loss studies.

10 DR. NARENDRAN: Thank you.

11 Dr. Meisel?

12 DR. MEISEL: Hi. This is Steve Meisel from  
13 Fairview in Minneapolis. I voted yes. I do  
14 believe the data are compelling that the mitigation  
15 of weight gain is statistically and clinically  
16 meaningful. That said, I do worry that in the real  
17 world, the impact will be less than in controlled  
18 trials as it is with most drugs and most  
19 conditions.

20 I echo what Dr. Krebs talked about, that  
21 there will be a desire to switch people stabilized  
22 on olanzapine to this with the hopes that there

1 would be weight loss. That's going to be a nuance,  
2 and that's going to be very, very important should  
3 this drug be approved. That's not what this is all  
4 about. This is for de novo.

5 They're going to be a fair number of  
6 patients who are still going to gain weight, just  
7 maybe not as much. So whether that is something  
8 that is noticed in the community of patients who  
9 need to use this is I think an open question. If  
10 you're only gaining 10 pounds and you otherwise  
11 would have gained 20 pounds or that sort of thing,  
12 you're still gaining weight; and how noticeable is  
13 that going to be in terms of the, "yes, but it  
14 could have been worse" sort of messaging. But  
15 unbalanced, I think the data is pretty compelling  
16 that it's meaningful mitigation.

17 DR. NARENDRAN: Thank you.

18 Dr. Krishna?

19 DR. KRISHNA: This is Dr. Sonia Krishna from  
20 Austin, and I voted yes. Thank you.

21 DR. NARENDRAN: Dr. Dunn?

22 DR. W. DUNN: Hi. This is Walter Dunn from

1       UCLA. I voted yes. Based on the clinical trial  
2       data, I do believe the evidence suggests  
3       samidorphan does mitigate olanzapine-associated  
4       weight gain. However, this is a very narrow yes,  
5       as I still have reservations about the  
6       generalizability of the data.

7               First, the mean weight of the subjects in  
8       these studies was barely overweight, with a BMI  
9       slightly over 25. In my clinical experience, this  
10      is not representative of chronically ill patients,  
11      a large percentage which were overweight or  
12      clinically obese. So if you start with a subject  
13      population at lower risk for weight gain, indicated  
14      by their BMI status, either through a combination  
15      of genetics, diet, or behavior, you're not really  
16      seeing how effective this drug might be in a  
17      high-risk population.

18              The second issue is that I don't know if  
19      this effect would be replicated in a real-world  
20      clinical population, for adherence will certainly  
21      be much more variable. And will the weight  
22      advantages of this compound be seen if patients are

1       only 50 percent adherent to their medication?

2               This is a theoretical concern based  
3       primarily on the half-life of olanzapine and  
4       samidorphan. Half-life of olanzapine is 33 hours  
5       and for samidorphan it's 7 to 11 hours. When  
6       you're taking the compound regularly, both drugs  
7       are at steady state and you have good coverage.  
8       But what happens when you lose 90 percent of the  
9       mu blockade after 24 hours, which is akin to  
10       skipping one dose, and this was cited by the  
11       sponsor. So what is the effect of olanzapine on  
12       appetite and weight gain when you're losing that  
13       new blockade?

14              Now, I don't imagine you lose total weight  
15       prevention benefit, but perhaps the weight  
16       advantages are attenuated. That's to say that this  
17       is not necessarily limitation of the study because  
18       this is something that wasn't addressed, but we  
19       could use this to actually clinically motivate our  
20       patients to remain consistent. You can tell them  
21       we're going to give you this medication. This  
22       could be very helpful for your psychosis or mood

1 symptoms, and you're not going to gain as much  
2 weight as you otherwise could, but you need to stay  
3 on it every single day.

4 So potentially, if we have that information,  
5 we could use that to help motivate our patients to  
6 be more adherent to the meds. But this is  
7 information we don't have, but that's needed to  
8 guide patient care and education. Thank you.

9 DR. NARENDRAN: Thank you.

10 Ms. Witczak?

11 MS. WITCZAK: Kim Witczak, consumer rep. I  
12 voted no, and I voted no for the word "meaningful"  
13 mitigate weight gain. Percentage is one thing, but  
14 when you actually looked at the absolute weight  
15 gain, it is minimal, and then compared to what we  
16 use as the weight-loss standard.

17 I also think the quality-of-life information  
18 that was patient reported falls into this as well.  
19 Again, even what Walter, the previous speaker, had  
20 said, a real-world -- what's going to really  
21 happen -- this clinical trial was -- it's very much  
22 set up in one -- we heard some of the things like

1 who was in the clinical trial, the type of BMI  
2 weights. But also I'm concerned -- people are  
3 still gaining weight, and I would be more  
4 interested in why has one person gained and the  
5 other one doesn't against some of those. But  
6 again, the word "meaningful" is where I got stuck.  
7 Thank you.

8 DR. NARENDRAN: Thank you.

9 Dr. Calis?

10 DR. CALIS: Hi. This is Karim Calis from  
11 the NIH, and I voted yes. I don't think anyone  
12 here would be debating the efficacy of olanzapine  
13 or the combination with samidorphan, certainly not  
14 for schizophrenia. But in terms of the point at  
15 hand, which is the reduction in weight and weight  
16 mitigation, we don't have any specific guidance on  
17 how to best assess the mitigation of antipsychotic  
18 induced weight gain in non-obese individuals. We  
19 do know that weight gain is a treatment-limiting  
20 adverse effect of olanzapine, which by all accounts  
21 is a very effective drug for these indications.

22 What concerns me still is that we have a

1 very modest effect, at best, on weight gain  
2 mitigation and certainly less than compelling  
3 effects on metabolic parameters, and that's been  
4 demonstrated here. But again, mitigating weight  
5 gain and inducing weight loss are quite different  
6 entities, and I think the standards for that or how  
7 we look at them is going to be different.

8 I still would have reservations and concerns  
9 about long-term safety data with samidorphan and  
10 also with regards to the positive information on  
11 long-term adherence and long-term effects on  
12 metabolic parameters, but I still think that in  
13 terms of meeting the study endpoints as discussed  
14 with FDA, I think that the applicant has met that  
15 bar. Thank you.

16 DR. NARENDRAN: Thank you.

17 Dr. Jeffrey?

18 DR. JEFFREY: Hi. Jessica Jeffrey, UCLA. I  
19 voted yes for the reasons previously stated. But  
20 additionally I want to make mention that I believe  
21 it's important to note the participants taking  
22 ALKS 3831 in Study A303 experienced less increasing

1 waist circumference and had favorable systolic  
2 blood pressure. Notably, both central adiposity  
3 and higher blood pressure are associated with poor  
4 medical outcomes. Thank you.

5 DR. NARENDRAN: Thank you. This is Raj  
6 Narendran, and I voted yes. I would like to just  
7 re-echo the comments of Dr. Fiedorowicz and  
8 Dr. Jeffrey, the categorical delineation of number  
9 of people who gained over 7 percent, 10 percent as  
10 to less central adiposity based on the weight size  
11 is what guided my vote.

12 I will pass it to Dr. Thomas.

13 DR. THOMAS: Patrick Thomas from Baylor  
14 College of Medicine. I voted yes.

15 DR. NARENDRAN: Thank you.

16 DR. AMIRSHAHI: Maryann Amirshahi from  
17 Georgetown University. I voted yes for the same  
18 reasons.

19 DR. NARENDRAN: Dr. Bohnert?

20 DR. BOHNERT: Hi. Amy Bohnert. I voted  
21 yes. My motivation for doing so is very similar to  
22 those outlined by Dr. Meisel, so I won't repeat

1 those comments. Thank you.

2 DR. NARENDRAN: Thank you.

3 Dr. Zacharoff?

4 DR. ZACHAROFF: Hi. Kevin Zacharoff, Stony  
5 Brook Medicine. I voted yes, but would echo that  
6 it was a narrow yes, and that was with respect to  
7 Ms. Witczak's comments. I think the word  
8 "meaningfully" could be determined to be different  
9 things to different people. I would certainly  
10 agree that for patients, any kind of weight loss or  
11 diminished weight gain would be a positive thing.  
12 But with respect to quality of life, metabolic  
13 outcomes, et cetera, et cetera, I wasn't a hundred  
14 percent convinced. So it was a narrow yes from me.  
15 Thank you.

16 DR. NARENDRAN: Thank you.

17 We will now move to voting question 2.

18 Voting question number 2, has the applicant  
19 adequately characterized -- one second. I've been  
20 told to pause.

21 (Pause.)

22 DR. NARENDRAN: Okay. We'll proceed again.

1           Question number 2, has the applicant  
2           adequately characterized the safety profile of  
3           ALKS 3831, olanzapine/samidorphan?

4           Are there any questions about the wording of  
5           the question from the panel members?

6           (No response.)

7           DR. NARENDRAN: I do not see any raised  
8           hands, so I guess we could proceed to voting.

9           Dr. Bonner?

10          DR. BONNER: LaToya Bonner, DFO. We will  
11          now move voting members to the voting breakout room  
12          to vote only. There will be no discussion in the  
13          voting breakout room.

14          (Voting.)

15          DR. BONNER: The voting has closed and is  
16          now complete. The vote results are displayed. I  
17          will read the vote result into the record. For  
18          question 2, 13 yeses, 3 nos, 1 abstain. I will  
19          turn the meeting back over to the chair.

20          DR. NARENDRAN: Thank you, Dr. Bonner.

21          We will now go down the list again, and  
22          everyone who voted, state their name and vote into

1 the record. You may also provide justification for  
2 your vote if you wish to. We'll start with  
3 Dr. Meisel.

4 DR. MEISEL: Thank you. Steve Meisel with  
5 Fairview in Minneapolis. I voted yes. I do  
6 believe that we understand what the safety profile  
7 of this product is. I saw no serious signals about  
8 anything that would be unexpected or untoward.  
9 Clearly, the issue of what happens when a patient  
10 needs to have an opioid because of whatever,  
11 surgery, fracture, dental procedure, whatever it  
12 may be, I think that's an open question, but I  
13 think we understand the question. I think it's  
14 characterized that that's going to be an issue to  
15 manage going forward. So I believe we understand  
16 what the safety profile is, so I think it's been as  
17 well characterized as we can get. Thank you.

18 DR. NARENDRAN: Thank you.

19 Mr. Matthew Racher?

20 MR. RACHER: Hi. This is Matthew Racher,  
21 patient representative. I decided to abstain from  
22 voting on this question. Thank you.

1 DR. NARENDRAN: Thank you.

2 Dr. Jain?

3 DR. JAIN: This is Dr. Felipe Jain of  
4 Massachusetts General Hospital. I voted yes,  
5 however, it's qualified. The risks associated with  
6 use of an opiate antagonist in general are well  
7 known and were well characterized with regard to  
8 schizophrenia in the studies we were presented.  
9 Regarding the schizophrenia/bipolar bridge and  
10 20-plus years of clinical research evidence we have  
11 with regard to use of an opiate antagonist in  
12 bipolar disorder, it's reassuring but not  
13 conclusive, and that's because samidorphan is a new  
14 molecular entity.

15 I'm concerned that bipolar disorder has  
16 symptomatology and neurobiological underpinnings  
17 that overlap with but are not identical to those  
18 with schizophrenia and that further safety research  
19 in bipolar disorder should be conducted. Thank  
20 you.

21 DR. NARENDRAN: Dr. Krebs?

22 DR. KREBS: Hi. This is Erin Krebs, and I

1 voted yes.

2 DR. NARENDRAN: Thank you. This is Raj  
3 Narendran. I voted yes.

4 I will pass it on to Dr. Fiedorowicz.

5 DR. FIEDOROWICZ: Yes. This is  
6 Dr. Fiedorowicz at Ottawa. I voted yes. I agree  
7 with Dr. Jain about the need for future research in  
8 bipolar disorder. Thank you.

9 DR. NARENDRAN: Thank you.

10 Next is Dr. Iyengar.

11 DR. IYENGAR: This is Satish Iyengar from  
12 Pittsburgh. I also voted yes. I also agree with  
13 the comments that Dr. Jain made about the need for  
14 further study for bipolar.

15 DR. NARENDRAN: Thank you.

16 Dr. Krishna?

17 DR. KRISHNA: This is Sonia Krishna at Dell  
18 Medical School at University of Texas in Austin. I  
19 voted yes. Thank you.

20 DR. NARENDRAN: Dr. Dunn?

21 DR. W. DUNN: This is Dr. Walter Dunn from  
22 UCLA. I voted no. I still have questions

1 regarding the implications of these metabolites of  
2 samidorphan in terms of their mu agonist activity,  
3 though not so much in the context of abuse  
4 potential, as I think those have been adequately  
5 addressed. The question I have is, is there going  
6 to be interactions of these metabolites with the  
7 opioids?

8 So theoretically, in the 1 or 2 days  
9 post-discontinuation of olanzapine/samidorphan,  
10 you're going to lose the mu blocking properties of  
11 samidorphan and only have the mu agonist activity  
12 of the metabolites. So during this period, would  
13 patients be at a higher risk for complications with  
14 prescribed opioid use greater than that would be  
15 expected from naltrexone? I'm not aware of any  
16 mu agonist metabolites with naltrexone.

17 What's not clear is if the binding affinity  
18 of these metabolites is of any clinical relevancy.  
19 For example, after you discontinued samidorphan,  
20 would a standard safe starting dose of oxycodone or  
21 hydromorphone result in a greater incidence of  
22 adverse events due to an adverse effect with these

1 metabolites? What should the label say? Should  
2 the label say, in the 1 or 2 days after you  
3 discontinue this, you need to lower your dose of  
4 your opiates or avoid opiates in this window of  
5 high risk?

6 Now again, I appreciate that this is  
7 somewhat of a high safety standard to have to  
8 consider multiple, what if, clinical situations  
9 with regard to safety, but I think this is a burden  
10 shared with any intervention designed to prevent a  
11 harm rather than addressing existing illness or  
12 disorder. And this is the role of samidorphan, not  
13 to treat an illness or disorder, but rather to  
14 prevent weight gain, which is the potential pathway  
15 for increased morbidity and mortality. Thank you.

16 DR. NARENDRAN: Thank you.

17 Dr. Thomas?

18 DR. THOMAS: Patrick Thomas, Baylor College  
19 of Medicine. I voted yes for some of the reasons  
20 stated, but do share some concerns about potential  
21 interaction of opioid agonists, not knowing the  
22 binding affinity, as well as if someone were to

1 abuse taking advantage of having that present, plus  
2 another dose. Thank you.

3 DR. NARENDRAN: Thank you.

4 Dr. Calis?

5 DR. CALIS: Hi. This is Karim Calis from  
6 the NIH, and I voted yes, and it's a qualified yes.  
7 I agree with Dr. Jain. I won't repeat what he  
8 said, but I would like to say that certainly with  
9 the new molecular entity like samidorphan, we'd  
10 certainly like to have more long-term safety data.  
11 But I believe that with the long-term safety  
12 extensions with the combination, I feel like the  
13 sponsor has attempted to gather additional  
14 information. But I think we need to continue to  
15 look at long-term safety with this combination  
16 product, and I'm particularly interested in  
17 metabolic parameters as well, and glucose in  
18 particular. Thank you.

19 DR. NARENDRAN: Thank you.

20 Dr. Jeffrey?

21 DR. JEFFREY: Hi. Yes. Jessica Jeffrey  
22 from UCLA. I voted yes for the reasons previously

1 stated, however, I want to support the comments of  
2 Dr. Jain.

3 DR. NARENDRAN: Thank you.

4 Dr. Zacharoff?

5 DR. ZACHAROFF: Hi. Kevin Zacharoff, Stony  
6 Brook Medicine. I voted no, and my no was really  
7 based on some of the reasons mentioned by Dr. Dunn;  
8 in addition to while I do feel that there was very  
9 good characterization of the safety profile of  
10 olanzapine, I have very strong concerns with  
11 respect to whether or not the safety profile of  
12 samidorphan was adequately characterized. I don't  
13 necessarily consider testing with remifentanil to  
14 be representative of what would happen in real  
15 life. I think there are other opioids that could  
16 have been utilized, such as morphine, for example.

17 I have no clear understanding as to what the  
18 appropriate course of action would be in the event  
19 that somebody does have an unintentional overdose.  
20 Do we reach for a different antagonist? Do we give  
21 more of this medication? It's really not clear to  
22 me, so I have a number of questions with respect to

1 the safety profile of the samidorphan component of  
2 this medication. Thank you.

3 DR. NARENDRAN: Thank you.

4 Ms. Witczak?

5 MS. WITCZAK: Kim Witczak, consumer rep. I  
6 voted no. I voted no for some of the reasons that  
7 Dr. Zacharoff just mentioned. But it's a new  
8 entity, a combined product. We don't know if  
9 there's long-term safety that I'd like to see, the  
10 real world, as well as all the off-label, like  
11 kids, the elderly. I'm concerned who's prescribing  
12 these and are they going to really understand it,  
13 and I think even within the population that was  
14 studied with having a higher than the general  
15 public with abuse disorder. So I think there's  
16 just a lot of concerns of the unknown that we don't  
17 know at this time. Thank you.

18 DR. NARENDRAN: Thank you.

19 Dr. Amirshahi?

20 DR. AMIRSHAHI: Maryann Amirshahi,  
21 Georgetown University. I voted yes. I think that,  
22 overall, they did a good job of characterizing the

1 safety profile as best they could. I think that  
2 there are some limitations, particularly with  
3 regard to the metabolites of samidorphan. Also,  
4 one area that I think needs further study is going  
5 to be how we do manage an opioid overdose when this  
6 medication is on board. Thank you.

7 DR. NARENDRAN: Thank you.

8 Dr. Bohnert?

9 DR. BOHNERT: Hi. Yes. This is Amy  
10 Bohnert. I voted yes. Dr. Amirshahi just  
11 characterized all of my reasons very well, and I  
12 think there's a need for -- sorry, all of my  
13 limitations to that yes, and I think there's a need  
14 for well-designed, postmarketing surveillance for  
15 this.

16 DR. NARENDRAN: Thank you.

17 Dr. Boudreau?

18 DR. BOUDREAU: Denise Boudreau. I voted  
19 yes, and nothing to add.

20 DR. NARENDRAN: Thank you.

21 We will now be moving to question number 3.  
22 Question number 3, is labeling sufficient to

1 mitigate the risks related to the opioid antagonist  
2 action of samidorphan?

3 Are there any questions about the wording of  
4 the question from the panel members? I see  
5 Dr. Iyengar.

6 DR. IYENGAR: Yes. This is Satish Iyengar  
7 from Pittsburgh again. This question is pretty  
8 narrowly stated. I was just looking at the  
9 applicant's proposed risk mitigation strategy  
10 section, and there's a good bit not only about  
11 labeling but also education. I was wondering are  
12 we voting on just the narrow statement.

13 DR. FARCHIONE: This is Tiffany Farchione.  
14 I would say that we're voting on the narrow  
15 statement. I think in terms of the educational  
16 materials and things like that, if they happen to  
17 be promotional or even just voluntary type stuff,  
18 we're not necessarily looking at a REMS per se.  
19 What we would really like to hear from the  
20 committee as you do register your votes is your  
21 rationale on why you voted the way you did.

22 Again remember, all of these things that are

1 part of labeling can be part of your  
2 considerations, and if you say yes, you should tell  
3 us in what way you think labeling can help. I  
4 realize that may have been a little circuitous.  
5 Hopefully that got to your question.

6 DR. IYENGAR: Thank you. Yes.

7 DR. NARENDRAN: We have another question  
8 from question from Dr. Dunn.

9 DR. W. DUNN: Hi. This is Walter Dunn from  
10 UCLA. In terms of the question, is it regarding  
11 labeling in general or is it labeling based off of  
12 what information we currently have with the current  
13 studies? I guess maybe what I'm really asking is,  
14 is it sufficient provided we get more information  
15 or is it just a general question that if we get  
16 more information, labeling would be sufficient?

17 DR. FARCHIONE: This is Tiffany Farchione  
18 again. We're obviously talking about the  
19 application that we have in front of us with the  
20 information that we have for review. We do have  
21 the discussion question coming up, where you can  
22 talk about what additional data, if any, you would

1 like to see.

2 DR. W. DUNN: Thank you.

3 DR. NARENDRAN: We don't see any further  
4 questions, so I think we can proceed with the  
5 voting. I'll pass it to Dr. Bonner.

6 DR. BONNER: LaToya Bonner, DFO. We will  
7 now move voting members to the voting breakout room  
8 to vote only. There will be no discussion in the  
9 voting breakout room.

10 (Voting.)

11 DR. BONNER: This is LaToya Bonner. The  
12 voting has closed and is now complete. The voting  
13 results are displayed. I will read the vote  
14 results into the record. For question number 3,  
15 11 yeses, 6 nos, zero abstain. Now I will transfer  
16 the meeting back over to the chair.

17 DR. NARENDRAN: Thank you. We'll do the  
18 same thing. We'll go down the list and have  
19 everyone who voted state their name and vote into  
20 the record. Please also provide justification as  
21 requested by the agency. I think it would be very  
22 helpful. We'll start with Dr. Meisel.

1 DR. MEISEL: Thank you. Steve Meisel with  
2 Fairview in Minneapolis. I voted no. In fact, I  
3 think labeling will do absolutely nothing to  
4 mitigate the risks related to the opioid  
5 antagonist, the qualities of this drug. I'm  
6 mindful of the fact that the prescribers of this  
7 drug will generally be psychiatrists. The  
8 psychiatrist will seldom be prescribing opioids.  
9 The people who'd be prescribing opioids are  
10 emergency room doctors, orthopedic surgeons, oral  
11 surgeons, anesthesiologists.

12 Since they're not the prescribers of this,  
13 all they're going to see, if they happen to look at  
14 a patient's medication list, would be the fact that  
15 they're on some form of olanzapine antipsychotic,  
16 and the idea or the notion that there's going to be  
17 an interaction, a negative interaction, or have a  
18 problem with the prescribing of opioids is going to  
19 fly right by them. It's just not going to compute.  
20 There's not going to be any alert. There's not  
21 going to be any knowledge of that. They're not  
22 going to go back and read the label of this drug

1 when they're prescribing an opioid in an emergency  
2 room, or a dentist office, or a post-op floor, or  
3 places like that.

4 So I think the conundrum here is that the  
5 labeling is designed for the prescriber, but the  
6 risks are not when the prescriber uses it because  
7 they're not prescribing the opioids in general.  
8 It's going to be with the people who are caring for  
9 the patient in non-psychiatric situations. So I  
10 don't think labeling is a strategy that's going to  
11 be very effective at all. Thank you.

12 DR. NARENDRAN: Thank you.

13 Dr. Thomas?

14 DR. THOMAS: Hi. Patrick Thomas, Baylor  
15 College of Medicine. I voted yes, answering the  
16 question narrowly about labeling sufficient to  
17 mitigate the opioid antagonist action. I don't  
18 know that you can say it about the other action,  
19 that we just aren't as characterized. I share some  
20 of the concerns that were previously stated,  
21 however, in the real world, even though naltrexone  
22 is a little more well known, sometimes people don't

1 say it, there are ways to manage that in ER. So I  
2 think that that would be manageable. Thank you.

3 DR. NARENDRAN: Thank you.

4 Dr. Bohnert?

5 DR. BOHNERT: Hi. Yes. This is Amy  
6 Bohnert. I voted yes. I share the concerns of the  
7 other two, but I felt like, on balance, the  
8 labeling would be sufficient for patients to make  
9 an informed choice about those potential adverse  
10 consequences of insufficient analgesia and overdose  
11 risks if they were already on long-term  
12 [indiscernible] but did not take the medication,  
13 and that's all.

14 DR. NARENDRAN: Thank you.

15 Dr. Jain?

16 DR. JAIN: This is Felipe Jain,  
17 Massachusetts General Hospital. I agree with  
18 Dr. Meisel one hundred percent that those  
19 prescribing the opiates are often going to be not  
20 those who are starting the olanzapine/samidorpham  
21 combination, and that it will be far too easy for  
22 non-psychiatrists to not realize what medication

1 the patient is taking, and what its properties are,  
2 and that it has opiate antagonistic properties.

3           Additionally, I struggled with this piece,  
4 but I think that this is important. Although  
5 labeling has been sufficient for opioid antagonists  
6 when used for other purposes, the combination  
7 medication is different and poses unique risks.  
8 Particularly, this could be started when a person  
9 is manic or psychotic, or suffers from cognitive  
10 disorganization, which often accompanies those  
11 states, and that improves markedly once they're on  
12 the medication.

13           They may not realize what they have been  
14 placed on and the implications of it being an  
15 opiate antagonist, and the time when they're most  
16 likely to have been explained that it's also an  
17 opiate antagonist is when they're initially started  
18 on the medication. That's when the drug label will  
19 be provided to them.

20           So it's also well validated that people who  
21 are manic, and sometimes those who are psychotic,  
22 do not remember everything that occurred prior to

1 becoming stable on the medication. I think we're  
2 at risk of this, for the patient to not realize the  
3 implications of this medication having been started  
4 on them due to their unique vulnerability in the  
5 time period when it is likely that they will be  
6 started on the medication. Thank you.

7 DR. NARENDRAN: Thank you.

8 Dr. Krebs?

9 DR. KREBS: Erin Krebs. I voted yes. Given  
10 that labeling is never really sufficient, in the  
11 context of everything else, I think with this  
12 narrow question, I thought yes was appropriate.

13 I just want to say I think there are these  
14 three main risks of the opioid antagonism. The  
15 first two that are really related to patient  
16 selection are the risks of precipitated withdrawal  
17 that could occur in any one who has ongoing regular  
18 use of opioids and physiologic dependence, and then  
19 the risk of overdose that might occur with someone  
20 who has a behavioral opioid-misuse pattern.

21 Those things, the prescriber is really the  
22 one who's going to be reading the indication,

1 reading the label, and needing to know that  
2 information in terms of patient selection because  
3 certain patients will be at much higher risk or  
4 only at risk of those; not everyone would be. So I  
5 think labeling is most helpful there.

6 The third area, and I think the others have  
7 brought up, is this risk of ineffective analgesia,  
8 other prescribers not being familiar with the drugs  
9 and not looking and recognizing that this opioid  
10 antagonist effect could affect the benefits that  
11 patients might receive from prescribed opioids.  
12 Here, this is a real issue in clinical practice  
13 right now as we see increasing use of opioid  
14 antagonists for a variety of conditions.  
15 Certainly, in my facility, we have ongoing quality  
16 improvement activities, trying to develop systems  
17 and processes to catch these things.

18 Where it is most important is in the  
19 patients who have acute pain with a severe trauma  
20 or a planned surgical procedure. It's really a  
21 relatively narrow group of clinicians who are  
22 involved in those clinical scenarios. That's not

1 all doctors; that's ER doctors, surgeons, and  
2 anesthesiologists. I think there's a lot of  
3 increasing awareness of the issues here because  
4 these are common issues with lots of other  
5 antagonists or combination drugs right now.

6 The broader group of patients and probably  
7 that 20 percent prescribed olanzapine and opioids  
8 concurrently, the broader group of patients is not  
9 having that very severe acute pain but is having  
10 maybe acute or chronic musculoskeletal or dental,  
11 less severe pain conditions for which, frankly,  
12 opioids usually aren't the best choice and are not  
13 more effective than other analgesics.

14 So here, if a doctor doesn't catch it, the  
15 level of harm is likely to be low and there are  
16 plenty of alternatives. Frankly, often an opioid  
17 isn't effective and you try something else anyway.  
18 So I think there is some likelihood that that word  
19 won't get out in terms of that, but I think the  
20 magnitude of harm there is much smaller. So that's  
21 my long story. Thank you.

22 DR. NARENDRAN: Thank you, Dr. Krebs.

1 Dr. Boudreau?

2 DR. BOUDREAU: Hi. Denise Boudreau, and I  
3 voted no. My colleague Steve Meisel articulated  
4 very well my thoughts. The thing I would add to  
5 that is I think patients that are on opiate  
6 antagonists, they're at risk even after, for a time  
7 period for which they discontinue, and you have a  
8 population that's at high risk for using opioids in  
9 general and a higher risk for substance-use  
10 disorders. I really would want to see some broader  
11 education and also some postmarketing data. Thank  
12 you.

13 DR. NARENDRAN: Thank you.

14 Dr. Iyengar?

15 DR. IYENGAR: This is Satish Iyengar. I  
16 voted yes largely in response to the narrow  
17 question. This is not an area that I know enough  
18 about, and I defer to the earlier speakers,  
19 especially people like Steven Meisel, who have  
20 articulated  
21 their reasons for saying no. Thank you.

22 DR. NARENDRAN: Thank you.

1 Dr. Krishna?

2 DR. KRISHNA: This is Sonia Krishna from  
3 University of Texas, Austin. I voted no for the  
4 reasons that Dr. Meisel and Dr. Jain brought up. I  
5 would add that most patients are reluctant to even  
6 reveal their opiate use, and if they did have some  
7 rare emergency and they did have to go to an  
8 emergency room, they probably would just say that  
9 they're on a medicine for schizophrenia. Maybe  
10 they would say olanzapine, but in general, I don't  
11 think that they would remember the combination of  
12 it, and I think the other providers who are  
13 non-psychiatric would be very hesitant to stop such  
14 a medication. Most of those doctors who have  
15 called me have just said, "Oh, we're going to leave  
16 the psychiatric meds alone. We don't want them to  
17 all of a sudden have a flare or relapse." So I  
18 think that there would be hesitation to stop the  
19 medicine and people would only treat it as a  
20 psychiatric medicine.

21 That said, I also would say that it is going  
22 to be marketed and sold -- even to

1 psychiatrists -- as a medication that mitigates  
2 weight gain and heading toward some level of weight  
3 loss. So I don't think that they'll be much focus  
4 on this being an opiate antagonist. I think it  
5 will just be remembered as an antipsychotic with  
6 less weight gain. Thank you.

7 DR. NARENDRAN: Thank you.

8 Dr. Dunn?

9 DR. W. DUNN: Walter Dunn, UCLA. I voted  
10 yes based off a very narrow interpretation of the  
11 question. Based on the first part, is labeling  
12 sufficient, I interpreted that as asking can  
13 labeling be sufficient, and I said yes. But based  
14 off of what's been discussed and what's been  
15 available in the presented data, I don't think the  
16 current information we have can provide sufficient  
17 labeling. I think more questions need to be  
18 answered.

19 This is of course related to the second part  
20 of the question. My concern remains about the  
21 metabolite, and the question asked specifically  
22 about the opioid antagonist action of samidorphan.

1 I think if we're only addressing that aspect of it,  
2 I think labeling is sufficient. If the question  
3 had said related to the action of samidorphan, I  
4 probably would have voted no. Thank you.

5 DR. NARENDRAN: Thank you.

6 Ms. Witczak?

7 MS. WITCZAK: Kim Witczak, consumer rep. I  
8 voted no. I voted no because I think there  
9 are -- well, first of all, there is the assumption  
10 that psychiatrists are the ones that will know  
11 this, but the reality is, if you've looked at the  
12 FDA slide, nurse practitioners and GPs are handing  
13 this out, and I do think that the patient will be  
14 thinking about it from a weight loss or less weight  
15 gain.

16 So the fact that it has -- then I think the  
17 people who would be prescribing the opioids, the ER  
18 doctors, the orthopedics, the dentists, people  
19 necessarily that may or may not know or be  
20 familiar, I think one of the previous speakers said  
21 with the psychiatric medications, people who are  
22 not in that space tend to realize you never stop

1 and you've got to be really careful with psych  
2 meds. So I think they'll just be some hesitations.  
3 I think it's really important -- and I think the  
4 sponsor did say the training. But I think it is  
5 going to be extremely important to all the  
6 non-psychiatrists, physicians, dentists, everybody.

7 I think also something else that the FDA  
8 should be looking at is how it's marketed and  
9 really look at the advertising and the marketing  
10 materials that are going to go to physicians as  
11 well as the patients, because I think the general  
12 public is going to see the idea that it's less  
13 weight gain, and I think that's going to be an  
14 advantage. I mean, it is a sales advantage over  
15 the current products on the market, however, to be  
16 able to say the other part of it and not just in  
17 the little box that probably gets fastly red and  
18 over some pretty pictures because that's not what  
19 people are going to hear. They're going to hear  
20 the weight loss and the less weight gain. So I  
21 think it's going to be really an important part  
22 should this drug get approved. Thank you.

1 DR. NARENDRAN: Thank you.

2 Dr. Calis?

3 DR. CALIS: Hi. This is Karim Calis from  
4 the NIH, and I voted yes. Again, it's a qualified  
5 yes based on the narrow wording of the question. I  
6 certainly agree with a lot of the comments that  
7 have been made so far of Dr. Meisel, Dr. Jain, and  
8 Ms. Witczak. I think those are very reasonable and  
9 certainly things that I've taken into  
10 consideration.

11 Labeling of itself with any drug, as another  
12 individual said earlier, is limited, but  
13 nonetheless, it's something that is important. I  
14 think one of the things that I'd really like to  
15 see -- and this is part of more of an educational  
16 campaign -- is to avoid any kind of misinformation  
17 about expectations. This is not a weight-loss  
18 drug. The modest effect that we see on mitigation  
19 of weight gain does not obviate the need for  
20 healthy lifestyle, diet, exercise, et cetera. So I  
21 think these are all important considerations.  
22 Certainly, we can address some of the safety issues

1 that have already been brought up in the warning  
2 section and also in limitations of use about this  
3 not being a weight-loss drug and so forth.

4           Lastly, I would leave with this comment, and  
5 that is I think it's also incumbent on the  
6 applicant, if this drug were approved, in their  
7 promotional material and their educational  
8 campaigns to not exaggerate the weight mitigation  
9 efficacy of this particular drug because it is  
10 certainly very modest. There's still certainly  
11 very limited information that we do know about the  
12 metabolic effects and the metabolic parameters. So  
13 there are limitations, and I think it's really  
14 important to keep those in context. So I will stop  
15 there. Thank you.

16           DR. NARENDRAN: Thank you.

17           Dr. Jeffrey?

18           DR. JEFFREY: Yes. Hi. Jessica Jeffrey  
19 from UCLA. I voted yes for the reasons previously  
20 stated most closely aligned with Dr. Dunn. But I  
21 did want to add that I do believe potential risks  
22 could be mitigated through careful labeling and

1 broad education to patients and medical providers,  
2 including physicians, dentists, and MPs.

3 I think one of the challenges here is broad  
4 education about potential risks to providers and  
5 multiple specialties, including psychiatry,  
6 emergency medicine, anesthesiology, and primary  
7 care, of course among others. So really, the  
8 devil's in the details of the labeling and the  
9 educational plan. Additionally, I think there  
10 should be thorough postmarketing surveillance.  
11 Thank you.

12 DR. NARENDRAN: Thank you. This is Roger  
13 Narendran. I voted yes. I have the utmost  
14 confidence that the agency can fix it with  
15 labeling, always; no. I really think we know a  
16 lot. There's a lot more awareness about opioid  
17 antagonists. We have so many products like  
18 buprenorphine and naltrexone. There's long-acting  
19 Revia. People are a lot more in tune in ER and  
20 surgical places to be aware, and I think when  
21 they're not, it could always be caught by a good  
22 pharmacy-based program to flag that.

1           So I think with labeling, there's no reason  
2 to hold this drug to a completely different  
3 standard than where we hold naltrexone just because  
4 it's more widely known. I think we need to  
5 definitely do an education of all the other  
6 providers, like surgeons and ER docs, but it's  
7 going to be very hard to overdose and override such  
8 a high antagonist effect if the occupancy of this  
9 drug is pretty high. So I think labeling and  
10 education can probably sufficiently address this  
11 concern.

12           I'll pass it to Mr. Matthew Racher.

13           MR. RACHER: Yes. Hi. This is Matthew  
14 Racher, patient representative. I just want to  
15 echo some sentiments that were previously  
16 described. I voted yes. I believe labeling is  
17 sufficient. I do also believe, as people were  
18 saying before, broader education from psychiatric  
19 providers and the healthcare treatment network is  
20 needed. It's definitely not a replaceable service  
21 to have that broader education to help patients  
22 understand the importance of any adverse effects

1 from the medication as they adhere to their  
2 treatment. So, yes. I voted yes.

3 DR. NARENDRAN: Thank you.

4 Dr. Amirshahi?

5 DR. AMIRSHAHI: Maryann Amirshahi,  
6 Georgetown University. I voted yes. Once again,  
7 this is a limited yes in the context of a drug  
8 label. I think we need to keep in mind that,  
9 really, a drug label is a guidance but, really, in  
10 the day-to-day operation of prescribing medication,  
11 it actually plays a small part. When you consider  
12 the relative risks of this medication from a  
13 toxicological standpoint and what we know about  
14 other opioid antagonists and the risk of poorly  
15 treated pain or precipitated withdrawal, while it  
16 is an adverse effect, it is very rarely  
17 life-threatening; whereas obesity and the  
18 consequences of obesity, which are often poorly  
19 controlled in the patient population that this  
20 medication would be approved for, is actually much  
21 more real.

22 I think that we do need to have targeted

1 intervention and educational initiatives with this  
2 medication, particularly focused on providers that  
3 will be prescribing opioid medications for sure.  
4 Then I think we also have to consider that these  
5 are not the only hard stops, particularly, because  
6 there are clinical pharmacists and clinical  
7 decision support, particularly within EMRs now. So  
8 I don't think we necessarily need to withhold this  
9 medication just based on the labeling alone. Thank  
10 you.

11 DR. NARENDRAN: Thank you.

12 Dr. Fiedorowicz?

13 DR. FIEDOROWICZ: Yes. Jess Fiedorowicz  
14 from Ottawa. I voted yes, although I'm  
15 reconsidering the word "sufficient." I don't feel  
16 I answered the question accurately, and a robust  
17 education campaign targeting more than prescribers  
18 is certainly needed. If you read the question  
19 carefully, I guess it implies that maybe you only  
20 need labeling and I would strongly disagree with  
21 that.

22 I share the concerns with Dr. Meisel, and

1 even though we have a lot more experience now with  
2 opiate antagonists, I think some of these concerns  
3 could be magnified because this is a new entity and  
4 it's not well known. The well-known antagonist  
5 naltrexone might be easily recognized by emergency  
6 room physicians or anesthesiologists, but they may  
7 not recognize that samidorphan is an opiate  
8 antagonist, especially when it's buried in with  
9 olanzapine in the same name.

10 DR. NARENDRAN: Thank you.

11 Dr. Zacharoff?

12 DR. ZACHAROFF: Hi. This is Kevin  
13 Zacharoff, Stony Brook Medicine. I voted no for a  
14 variety of reasons, including what we heard from  
15 Drs. Meisel, Dr. Jain, and Dr. Krishna. But there  
16 are a couple of other issues that guided me towards  
17 the no, including what we just heard from  
18 Dr. Fiedorowicz with respect to the lack of  
19 familiarity with samidorphan.

20 The first point is that all opioid  
21 antagonists are not the same. I have no clue as to  
22 what this medication really would behave like in

1 terms of opioid antagonists that I am familiar  
2 with. We heard the sponsor mention this morning  
3 something like what Vivitrol has, which is a card  
4 that a patient would carry along with them.

5 I have the Vivitrol card in front of me, and  
6 what it says is, "Vivitrol is an opioid inhibitor.  
7 Suggestions for pain management include regional  
8 analgesia and non-opioid analgesics. In the event  
9 that opioid therapy is required, it should only be  
10 administered by healthcare providers specifically  
11 trained in the use of anesthetic drugs and  
12 management of respiratory effects of potent  
13 opioids.

14 "Specifically, in the establishment and  
15 maintenance of patient airway and assess  
16 ventilation, the patient should be monitored  
17 closely in a setting equipped for cardiopulmonary  
18 resuscitation in the event that an opioid is  
19 given."

20 To that point Roger Chou published a case  
21 report on the website in January 2018 for the  
22 Agency for Healthcare Research and Quality, titled

1 "The Painful Medication Reconciliation Mishap."  
2 Basically, it was a patient who was on naltrexone,  
3 who broke their neck and obviously required opioid  
4 analgesic therapy. He states that the dosage  
5 necessary in naltrexone blocking effects could be  
6 as much as 6 to 20 times higher than the normal  
7 therapeutic doses required in the absence of an  
8 opioid antagonist. I have no clue as to what that  
9 would mean with patients who were still within the  
10 window of effectiveness of samidorphan.

11 Speaking about Vivitrol, which has a  
12 REMS -- I think it was Tiffany who mentioned a REMS  
13 earlier -- it's not clear to me as to why this  
14 medication wouldn't have a REMS, but I would not  
15 consider this medication with its opioid antagonist  
16 effects for both people who are candidates for  
17 opioid therapy or who are unintentionally  
18 overdosing as a result of abuse. I cannot  
19 understand why this would be treated as a  
20 traditional education initiative as compared to a  
21 REMS.

22 In line with that, I'd just like to

1 reiterate the idea that when we did look at the  
2 briefing materials, we saw the demographics of  
3 who's prescribing olanzapine, and there were many  
4 other healthcare providers other than psychiatrists  
5 that were doing the prescribing, many other  
6 disciplines, and a lot of primary care. I have no  
7 clue as to what the disciplines were of the  
8 osteopathic physicians, or the nurse practitioners,  
9 or the PAs because it wasn't broken up for those  
10 groups by discipline. But in no way, shape, or  
11 form would I consider this to be a traditional  
12 label, a black boxed warning that covers the risks.

13 In the event that there is going to be that  
14 card that the sponsored discussed this morning.  
15 that sounds to me much more like a REMS initiative,  
16 and I'm not understanding whether this is some kind  
17 of blend of a traditional risk mitigation strategy  
18 versus a modified REMS approach, but that's why I  
19 voted no. Thank you.

20 DR. NARENDRAN: Thank you.

21 I think this concludes our voting questions.  
22 We move to question number 4, which is a discussion

1 question.

2 Question number 4 is a discussion question.  
3 What, if any, additional data are needed to address  
4 outstanding issues with Alkermes 3831 or ALKS 3831,  
5 olanzapine/samidorphan?

6 Are there any questions about the discussion  
7 question, the wording of the discussion question?

8 (No response.)

9 DR. NARENDRAN: I don't see any raised  
10 hands. I would suggest if there's no hands, people  
11 can feel free to raise your hand to start the  
12 discussion.

13 I'll start. This is Raj Narendran. I don't  
14 think it's like an outstanding issue, but I would  
15 like to see a receptor occupancy study in humans to  
16 characterize what the mu receptor occupancy is  
17 after acute dosing, chronic dosing, and also after  
18 you stop it, how long does the occupancy persist?

19 I think if we know that, I think that could  
20 be helpful to tell providers there's  
21 50 mu occupancy after 24 hours of stopping, or 48  
22 hours of stopping, and then the pain management

1       could be cut by half of what it traditionally  
2       should be or should be more than half of what it  
3       should be. I think it would be important to get  
4       that PET occupancy data, which is typically done  
5       around phase zero/phase 1.

6               So hat would be one of my thoughts. It  
7       would also be good to see a long-term study with a  
8       comparator like olanzapine past what was done for a  
9       year, and see if the weight mitigation persists.  
10       Those are my thoughts.

11               Dr. Krebs, I'll pass it on to you.

12               DR. KREBS: Hi. Two things. First on the  
13       benefit side, I mentioned this before, but we don't  
14       have evidence that switching to this drug from  
15       plain olanzapine would have any benefits in terms  
16       of preventing further weight gain, much less,  
17       weight loss. One concern would be that a lot of  
18       people would be switched for no benefits but  
19       greater costs with this new product.

20               So I do think that additional research on  
21       people who are currently on olanzapine and have  
22       experienced weight gain would be necessary, and

1 just needing to be very careful that this isn't  
2 marketed in such a way that a lot of switching  
3 occurs that is not beneficial to patients or that  
4 there's no evidence for that yet.

5 On the harm side, I voted yes on the  
6 labeling thing, but I am concerned that the wording  
7 of the label, and the educational materials, and  
8 the advertisements do need to be carefully looked  
9 at in terms of making sure that the language is  
10 clearly understood, especially because words like  
11 "opioid dependence" really do not have a shared  
12 meaning among physicians, healthcare providers, or  
13 the general population these days. So making sure  
14 that it's very clear what the contraindications  
15 are, and what the risks are, and who's at risk, I  
16 think really careful work on that will be needed.  
17 Thank you.

18 DR. NARENDRAN: Thank you.

19 Dr. Dunn?

20 DR. DUNN: Thank you. This is Walter Dunn  
21 from UCLA. Before I begin talking about my  
22 concerns about additional data, I just want to say

1 that I agree with many of the public comments about  
2 how olanzapine is a really important tool in our  
3 armamentarium, and anything that we can do to make  
4 it safer could only benefit the patients; so taking  
5 that into consideration as you hear my comments.

6 The first thing is -- and I mentioned this  
7 before -- having more information about how  
8 narrowly does this weight benefit exist, meaning  
9 that if we drop below a 90 percent adherence rate,  
10 is most of the benefit lost? Because again, I  
11 think we have to think about real-world  
12 implications. Even though we prescribe medications  
13 and expect our patients to take it every day,  
14 that's not the reality.

15 So I think it's important for the clinician  
16 to know does adherence have to be at such a high  
17 rate that these benefits are not going to be  
18 achieved in the clinical practice.

19 I think that's important for us to know when  
20 we start the patient on this because there's no  
21 guarantee, even if they take it a hundred percent,  
22 that it's going to prevent the weight gain. If

1 that benefit is further mitigated by the necessity  
2 to be a hundred percent adherent, then I think for  
3 some clinicians it might not be worth the risk to  
4 place them on something with olanzapine.

5 Second is the issue about will this be  
6 effective for patients who are well into the  
7 overweight range or obese range. The study  
8 population, and I mentioned before, these were  
9 patients who were barely overweight, and I suspect  
10 for a lot of these patients in clinical practice,  
11 they're not going to be below a BMI of 25, and will  
12 the same benefits be seen there? Unfortunately, I  
13 don't think some of these questions can be answered  
14 with postmarketing surveillance. Those are  
15 designed to look at adverse events, so I think  
16 perhaps a long-term or a phase 4 study would have  
17 to be conducted to do this.

18 The second issue -- of course, I think I  
19 mentioned this -- is the metabolite properties in  
20 regards to interaction with opioid analgesics.  
21 Again, it's still unclear to me. I don't know if  
22 the actual study has to be done to see if there is

1       some type of added effect. Perhaps the labeling  
2       could say there is a theoretical risk that there  
3       may be increased risk of adverse events if you take  
4       an opioid analgesic within 24 to 48 hours of  
5       discontinuing samidorphan, but I think that study  
6       can be easily done. You place the patient on  
7       samidorphan; get the steady state. You discontinue  
8       within that acute window afterwards. You give them  
9       a standard dose of oxycodone or something like that  
10      and see if there is an increased rate of side  
11      effects.

12               Then finally, I think it's important to be  
13      clear in the label to really specify what this  
14      medication can and cannot do. I think one of the  
15      most important outcomes is really that of metabolic  
16      syndrome as a potential outcome. That was not  
17      addressed in this study; it was only 6 months. We  
18      saw that it did mitigate weight gain. We didn't  
19      really see that much difference in terms of the  
20      other measures, but I think metabolic syndrome is  
21      really what we're hoping to prevent long term.

22               You heard from many of the public

1       commentators that they're excited about using this  
2       in first-episode patients or they're already using  
3       it in first-episode patients, early psychosis  
4       patients. It's clear from important guidelines  
5       that olanzapine and clozapine are ones you should  
6       not start with in early-episode patients. But the  
7       reality is you've got really sick patients on the  
8       inpatient ward, and this is one of the most  
9       effective medications, and you have to go to it  
10       because there's really not other options. But my  
11       concern is if there's not good data about if this  
12       has an effect on metabolic syndrome, clinicians are  
13       going to assume, well, if I mitigate weight gain,  
14       I'm lowering the risk of metabolic syndrome, so  
15       maybe my threshold to use it in an early-episode  
16       patient is lower.

17               So that would be my concern. I think data  
18       really showing either that it does or does not have  
19       an advantage for metabolic syndrome would be  
20       important in guiding prescribing practices. Thank  
21       you.

22               DR. NARENDRAN: Thank you.

1 Dr. Fiedorowicz?

2 DR. FIEDOROWICZ: Yes. Hello. Jess  
3 Fiedorowicz in Ottawa. I'd like to see studies  
4 that focus on the many concerns we've brought up  
5 about generalizability. In addition to the mention  
6 of switching from olanzapine to  
7 olanzapine/samidorphan not being studied, I agree  
8 with Dr. Krebs that we need to be very careful that  
9 we don't present this as a weight-loss medication  
10 but one that prevents weight gain, and this needs  
11 to be very clear in the indication. And as I read  
12 it, that's not super clear.

13 As mentioned by Dr. Dunn and others, it's  
14 also not clear if there's generalizability to those  
15 with obesity, which may represent over half of  
16 those with bipolar disorder or schizophrenia, and  
17 those that would be most interested in preventing  
18 further weight gain.

19 I'm somewhat concerned of a running theme  
20 that came up in the public comments that may  
21 represent some sort of early premarketing, with  
22 statements suggesting that this medication may

1 prevent weight gain altogether. That's simply not  
2 true. This committee seems to be in agreement that  
3 any weight mitigation is fairly modest, and this  
4 combination product is still absolutely obesogenic.

5 I also have to respectfully disagree with  
6 the agency and the applicant related to the  
7 rationale for this bridging to a bipolar I  
8 indication. I think there's a series of somewhat  
9 tenuous bridges here between naltrexone and  
10 samidorphan, and those naltrexone studies, as I  
11 mentioned before, were already pretty limited; as  
12 well as schizophrenia to bipolar disorder, while  
13 it's likely that these may be similarly effective,  
14 I don't think this bridging strategy establishes  
15 that indication.

16 With the FDA clarification, there seems to  
17 be this assumption that effects are entirely D2  
18 mediated, and that ignores the burgeoning  
19 literature on the relevance of opioid  
20 neurotransmission in circuitry and mood disorders.  
21 I think this concern is relevant for both efficacy  
22 and safety.

1           So those are some of the concerns I wanted  
2 to bring up for a future study to address  
3 generalizability. Thank you.

4           DR. NARENDRAN: Thank you.

5           Next is Dr. Krishna.

6           DR. KRISHNA: I agree with the previous  
7 questions and comments and discussion. I also  
8 wanted to add that I would like to see this  
9 research done in younger patients. I know a  
10 pediatric study would be planned for the future,  
11 but even the current demographics were done in age  
12 40 with the average being there. And if we're  
13 looking at first episodes, trying to prevent the  
14 weight increase to the same extent that would be on  
15 just olanzapine alone, I would like to see it done  
16 in maybe 18 to 25-year-old patients.

17           I feel, from data and experience, that the  
18 weight gain risk is much higher in younger  
19 patients. So if there is a medication that states  
20 that it mitigates some weight gain, it's going to  
21 be used even off-label in pediatric patients prior  
22 to getting the FDA indication, and I would just

1 like more data showing that hopefully there's a  
2 greater mitigation because the risk would be much  
3 higher for them. Thank you.

4 DR. NARENDRAN: Thank you.

5 Next is Dr. Meisel.

6 DR. MEISEL: Hi. Thank you. Steve Meisel  
7 with Fairview in Minneapolis. One of the elements  
8 here that I don't think I heard discussion about,  
9 and it wasn't intended to, but would be important  
10 for the real world, and that is a person who was  
11 already stable on olanzapine, what are the  
12 implications of switching them over to this  
13 product; good, bad or indifferent? I haven't seen  
14 any data to that effect or a discussion to that  
15 effect.

16 At first blush, it's not intended to do  
17 that, but you know full well that in the real world  
18 it would be a temptation to switch somebody over in  
19 the hopes that it would cause a weight loss. Well,  
20 what are the implications of doing that, both in  
21 terms of any value whatsoever and what additional  
22 adverse events might crop up should that happen in

1 the real world?

2 So that would be an area of additional data  
3 that I think would be really valuable to  
4 characterize this particular product. Thank you.

5 DR. NARENDRAN: Anybody else who wants add?  
6 I'm just going to scan for any other raised hands.

7 Ms. Witczak?

8 MS. WITCZAK: Kim Witczak. Somebody just  
9 said this, but I really want to see more on the  
10 common, off-label use of the drug with bipolar  
11 depression. It's being used in sleep disturbances.  
12 This is the real world. I know that some  
13 psychiatrists cannot believe it, but it is  
14 happening in the GPs and children's PTSD. So I'd  
15 love to see more study in those populations and  
16 what's happening.

17 Then as well, I'm going to reiterate  
18 communication. I think we have to be all over  
19 this, watching the way it is presented to not only  
20 the public through direct-to-consumer advertising,  
21 but also how it is promoted to the doctors, the  
22 meetings that are happening, the communications,

1 because that is one of the concerns with Zyprexa  
2 and some of those antipsychotics, is the weight  
3 gain.

4 So it sounds like a really great product,  
5 and definitely from a sales perspective, it is  
6 going to be the point of differentiation, and it's  
7 going to be an easy thing to go into a physician's  
8 office. So I think we have to be really careful  
9 about how it gets promoted because we could have a  
10 lot of potential issues down the road. Thanks.

11 DR. NARENDRAN: Thank you.

12 If people could take the opportunity to  
13 lower their hands so I don't call on you again. I  
14 see a couple raised hands. Okay.

15 Dr. Fiedorowicz, do you have comments,  
16 further comments?

17 DR. FIEDOROWICZ: Sorry. I didn't put my  
18 hand down.

19 DR. NARENDRAN: Okay.

20 If there's no additional comments -- I don't  
21 see anybody else -- I could try to summarize this.  
22 What I heard in terms of from the panel members for

1 additional data would be maybe a PET occupancy  
2 study to look at the mu receptor occupancy studies  
3 to look at switching from olanzapine to  
4 olanzapine/samidorphan to see if it's beneficial;  
5 studies to see if patients were not really adherent  
6 as prescribed at a hundred percent and what does  
7 that mean in terms of the benefits and risks of  
8 weight mitigation, as well as opioid overdoses and  
9 opioid antagonists risks.

10 It would be useful to see more patients with  
11 high BMI being enrolled to take this and see if it  
12 helps them as well. Definitely some questions were  
13 raised about studies required to look at the  
14 metabolic properties of samidorphan, especially if  
15 it has agonist efficacy and how that interacts with  
16 potential other opioid agonists when prescribed; is  
17 it going to lead to increased harm? Studies to  
18 address that could be helpful.

19 Also, people are interested to see whether  
20 there'd be longer term studies not just to mitigate  
21 the weight gain and the waist circumference, but  
22 also can it prevent the occurrence of metabolic

1        syndrome or mitigate the occurrence of metabolic  
2        syndrome. Data on bipolar disorder was raised.  
3        Also, people want to see data in younger patients,  
4        not just pediatric, but also 18 to 25-year-old  
5        patients who tend to be first episode with  
6        psychosis and mood disorders. There was also an  
7        interest in looking at additional data in PTSD and  
8        other off-label uses for which this medication  
9        could be prescribed. There was also some thought  
10       of better characterized long-term adverse events  
11       could be helpful as well in postmarketing data.

12                Are there any other thoughts or closing  
13        comments from the agency? I hope this is useful  
14        and helpful.

15                DR. FISCHER: Hi. This is Bernie Fischer.  
16        Yes, very helpful. Thank you very much. No  
17        additional comments from me. Thank you.

18                                **Adjournment**

19                DR. NARENDRAN: With that, I would like to  
20        thank members of the public, the FDA staff,  
21        especially the technical staff. Specifically, I'd  
22        like to call out Dr. Bonner for having conducted a

1 great meeting through the virtual format and also  
2 the sponsor for having done a great job. We will  
3 now adjourn the meeting. Thank you.

4 (Whereupon, at 3:39 p.m., the meeting was  
5 adjourned.)

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22