

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

JOINT MEETING OF THE ANESTHETIC AND ANALGESIC
DRUG PRODUCTS ADVISORY COMMITTEE (AADPAC) AND THE
DRUG SAFETY AND RISK MANAGEMENT
ADVISORY COMMITTEE (DSaRM)

Virtual Meeting

Monday, November 2, 2020

9:00 a.m. to 1:09 p.m.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Meeting Roster

DESIGNATED FEDERAL OFFICER (Non-Voting)

Moon Hee V. Choi, PharmD

Division of Advisory Committee and
Consultant Management
Office of Executive Programs, CDER, FDA

ANESTHETIC AND ANALGESIC DRUG PRODUCTS ADVISORY

COMMITTEE MEMBERS (Voting)

Basavana G. Goudra, MD, FRCA, FCARSCI

Clinical Associate Professor of Anesthesiology and
Critical Care Medicine
Director of Endoscopy Anesthesia Services at the
Penn Presbyterian Medical Center
Perelman School of Medicine
Hospital of the University of Pennsylvania
Philadelphia, Pennsylvania

1 **Jennifer Higgins, PhD**

2 *(Consumer Representative)*

3 Owner

4 CommonWealth GrantWorks

5 Northampton, Massachusetts

6

7 **Maryam Jowza, MD**

8 Assistant Professor of Anesthesiology and

9 Pain Management

10 University of North Carolina-Chapel Hill

11 Chapel Hill, North Carolina

12

13 **Maura S. McAuliffe, CRNA, MSN, MSNA, PhD, FAAN**

14 Professor, College of Nursing

15 Director, Nurse Anesthesia Program

16 East Carolina University

17 Greenville, North Carolina

18

19

20

21

22

1 **Abigail B. Shoben, PhD**

2 Associate Professor, Division of Biostatistics

3 College of Public Health

4 The Ohio State University

5 Columbus, Ohio

6

7 **Michael Sprintz, DO, DFASAM**

8 Clinical Assistant Professor, Division of

9 Geriatric and Palliative Medicine

10 University of Texas Health Science Center

11 Houston, Texas

12 CEO and Chief Medical Officer

13 Sprintz Center for Pain, PLLC

14 Sprintz Center for Recovery, PLLC

15 Shenandoah, Texas

16

17

18

19

20

21

22

1 **Sherif Zaafran, MD, FASA**

2 President, Texas Medical Board

3 Vice-Chair, Clinical Governance Board

4 US Anesthesia Partners Gulf Coast

5 Memorial Healthcare System Acute and Chronic Pain

6 Committee, Houston

7 Memorial Healthcare System Perioperative

8 Committee, Houston

9 US Anesthesia Partners

10 Houston, Texas

11

12

13

14

15

16

17

18

19

20

21

22

1 **Kevin L. Zacharoff, MD, FACIP, FACPE, FAAP**
2 Faculty and Clinical Instructor
3 Course Director Pain and Addiction
4 Distinguished Visiting Scholar in Medical
5 Humanities, Compassionate Care, and Bioethics
6 Renaissance School of Medicine at
7 Stony Brook University
8 Consultant to the Ethics Committee
9 Stony Brook University Hospital
10 Ethics Committee Chair
11 St. Catherine of Siena Medical Center
12 Smithtown, New York
13
14
15
16
17
18
19
20
21
22

1 **ANESTHETIC AND ANALGESIC DRUG PRODUCTS ADVISORY**

2 **COMMITTEE MEMBER (Non-Voting)**

3 **Jay Horrow, MD, MS, FACC**

4 *(Industry Representative)*

5 Clinical Professor

6 Anesthesiology & Critical Care Medicine

7 Perelman School of Medicine

8 University of Pennsylvania

9 Clinical Lead, Cardiovascular Drug Development

10 Bristol-Myers Squibb

11 Lawrenceville, New Jersey

12

13

14

15

16

17

18

19

20

21

22

1 **DRUG SAFETY AND RISK MANAGEMENT ADVISORY COMMITTEE**

2 **MEMBERS (Voting)**

3 **Karim Anton Calis, PharmD, MPH, FASHP, FCCP**

4 Director of Clinical Research and Compliance

5 Office of the Scientific Director, Division of

6 Intramural Research

7 *Eunice Kennedy Shriver* National Institute of Child

8 Health and Human Development

9 National Institutes of Health

10 Hatfield Clinical Research Center

11 Bethesda, Maryland

12

13 **Laurel A. Habel, MPH, PhD**

14 Associate Director, Cancer Research

15 Division of Research

16 Kaiser Permanente Northern California

17 Oakland, California

18

19

20

21

22

1 **Sonia Hernandez-Diaz, MD, MPH, DrPH**

2 Professor of Epidemiology

3 Department of Epidemiology

4 Harvard T.H. Chan School of Public Health

5 Boston, Massachusetts

6

7 **Collin A. Hovinga, PharmD, MS, FCCP**

8 Senior Vice President

9 Clinical and Scientific Development

10 The Institute for Advanced Clinical

11 Trials (I-ACT) for Children

12 Clinical Associate Professor of Pharmacy

13 University of Texas at Austin, College of Pharmacy

14 Austin, Texas

15

16 **Steve B. Meisel, PharmD, CPPS**

17 System Director of Medication Safety

18 M Health Fairview

19 Minneapolis, Minnesota

20

21

22

1 **Lewis S. Nelson, MD**

2 Professor and Chair

3 Department of Emergency Medicine

4 Chief, Division of Medical Toxicology

5 Rutgers New Jersey Medical School

6 Newark, New Jersey

7

8 **DRUG SAFETY AND RISK MANAGEMENT ADVISORY COMMITTEE**

9 **MEMBERS (Non-Voting)**

10 **Reema J. Mehta, PharmD, MPH**

11 *(Industry Representative)*

12 Senior Director, Head of Risk Management and

13 Safety Surveillance Research

14 Pfizer, Inc.

15 North Peapack, New Jersey

16

17

18

19

20

21

22

1 **TEMPORARY MEMBERS (Voting)**

2 **Cynthia L. Arfken, PhD**

3 Professor, Department of Psychiatry and Behavioral
4 Neurosciences

5 Vice-Chair, Substance Use Disorder Oversight Policy
6 Board, Detroit Wayne Integrated Health Network

7 Wayne State University

8 Detroit, Michigan

9

10 **Brian T. Bateman, MD**

11 *(Acting Chairperson)*

12 Vice Chair for Faculty Development

13 Chief, Division of Obstetric Anesthesia

14 Department of Anesthesiology

15 Co-Director, Harvard Program on Perinatal and

16 Pediatric Pharmacoepidemiology

17 Division of Pharmacoepidemiology and

18 Pharmacoeconomics, Department of Medicine

19 Brigham and Women's Hospital

20 Associate Professor, Harvard Medical School

21 Boston, Massachusetts

22

1 **Daniel Ciccarone, MD, MPH**

2 Professor of Family and Community Medicine

3 UCSF School of Medicine

4 University of California San Francisco

5 San Francisco, California

6

7 **Juan M. Hincapie-Castillo, PharmD, MS, PhD**

8 Assistant Professor, Pharmaceutical Outcomes &

9 Policy

10 University of Florida

11 Gainesville, Florida

12

13 **Mary Ellen McCann, MD, MPH**

14 Associate Professor of Anesthesia

15 Harvard Medical School

16 Senior Associate in Anesthesia

17 Boston Children's Hospital

18 Boston, Massachusetts

19

20

21

22

1 **Edward Michna, MD, JD, RPh**

2 Assistant Professor in Anesthesia Harvard Medical

3 School

4 Pain Management Center

5 Brigham & Women's Hospital

6 Boston, Massachusetts

7

8 **Laura D. Porter, MD**

9 Medical Affairs and Patient Advocacy

10 Medical Affairs Senior Consultant

11 Colorectal Cancer Alliance

12 Washington, District of Columbia

13

14

15

16

17

18

19

20

21

22

1 **FDA PARTICIPANTS (Non-Voting)**

2 **Mary T. Thanh Hai, MD**

3 Deputy Director (Clinical) (Acting)
4 Office of New Drugs (OND), CDER, FDA

5
6 **Rigoberto Roca, MD**

7 Director
8 Division of Anesthesiology, Addiction Medicine and
9 Pain Medicine
10 Office of Neuroscience, OND, CDER, FDA

11
12 **Judy Staffa, PhD, RPh**

13 Associate Director for Public Health Initiatives
14 Office of Surveillance and Epidemiology (OSE)
15 CDER, FDA

16
17 **Cynthia LaCivita, PharmD**

18 Director
19 Division of Risk Management
20 Office of Medication Error Prevention and Risk
21 Management, OSE, CDER, FDA

22

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order	
4	Brian Bateman, MD	17
5	Introduction of Committee	
6	Moon Hee Choi, PharmD	17
7	Conflict of Interest Statement	
8	Moon Hee Choi, PharmD	25
9	FDA Opening Remarks	
10	Rigoberto Roca, MD	29
11	Applicant Presentations - Olas Pharma	
12	Introduction: Today's Purpose	
13	George Scott, Jr., JD, MBA	31
14	Clinical Efficacy and Safety	
15	Bernard Schachtel, MD	37
16	Aligned Proposal to Mitigate Safety	
17	Concerns	
18	George Scott, Jr., JD, MBA	54
19	Clarifying Questions	68
20		
21		
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	FDA Presentations	
4	FDA Perspective	
5	Rigoberto Roca, MD	76
6	Carolyn Tieu, PharmD, MPH	83
7	Clarifying Questions	93
8	Open Public Hearing	102
9	Clarifying Questions (continued)	130
10	Charge to the Committee	
11	Rigoberto Roca, MD	152
12	Questions to the Committee and Discussion	155
13	Adjournment	190
14		
15		
16		
17		
18		
19		
20		
21		
22		

P R O C E E D I N G S

(9:00 a.m.)

Call to Order

DR. BATEMAN: Welcome. I'd first like to remind everyone to please mute your line when you're not speaking. For media and press, the FDA press contact is Lauren-Jei McCarthy. Her email and phone number are currently displayed.

My name is Dr. Brian Bateman, and I'll be chairing this meeting. I will now call the November 2, 2020 joint meeting of the Anesthetic and Analgesic Drug Products Advisory Committee and the Drug Safety and Risk Management Advisory Committee to order. Dr. Moon Hee Choi is the designated federal officer for this meeting and will begin with introductions.

Introduction of Committee

DR. CHOI: Good morning. My name is Moon Hee Choi, and I'm the designated federal officer for this meeting. When I call your name, please introduce yourself by stating your name and affiliation.

Dr. Goudra?

(No response.)

1 DR. CHOI: Dr. Goudra, could you please unmute
2 yourself if you are muted?

3 DR. GOUDRA: Yes, I'm unmuted. Basavana
4 Goudra, anesthesiologist from Penn Medicine.

5 DR. CHOI: Dr. Higgins?

6 DR. HIGGINS: Dr. Jennifer Higgins, consumer
7 representative to AADPAC.

8 DR. CHOI: Dr. Horrow?

9 DR. HORROW: I'm Jay Horrow, clinical
10 professor of anesthesia at the University of
11 Pennsylvania where I attend to patients, and my main
12 job is as clinical development lead in cardiovascular
13 drug development at Bristol-Myers Squibb. I'm the
14 industry representative to AADPAC.

15 DR. CHOI: Dr. Jowza?

16 DR. JOWZA: Hi. Good morning. My name is
17 Maryam Jowza. I'm an assistant professor in
18 anesthesiology and pain management at University of
19 North Carolina.

20 DR. CHOI: Dr. McAuliffe?

21 DR. McAULIFFE: Good morning. I'm Maura
22 McAuliffe. I'm professor of nursing and director of

1 the Nurse Anesthesia Program at East Carolina
2 University, Greenville, North Carolina.

3 DR. CHOI: Dr. Shoben?

4 DR. SHOBEN: Hi. I'm Abby Shoben. I'm an
5 associate professor of biostatistics at The Ohio State
6 University.

7 DR. CHOI: Dr. Sprintz?

8 DR. SPRINTZ: Hi. I'm Dr. Michael Sprintz,
9 and I'm clinical assistant professor at University of
10 Texas Health Science Center in Houston. I'm also CEO
11 of Sprintz Center for Pain and Recovery just north of
12 Houston. I'm boarded in pain medicine, addiction
13 medicine, and anesthesiology, and I'm personally in
14 recovery myself from addiction for over 20 years.

15 Thank you.

16 DR. CHOI: Dr. Zaafran?

17 DR. ZAAFRAN: Good morning. Sherif Zaafran.
18 I'm an anesthesiologist here in Houston, Texas. I'm
19 with U.S. Anesthesia Partners, the largest anesthesia
20 physician group in the country, and I'm also the
21 president of the Texas Medical Board.

22 DR. CHOI: Dr. Zacharoff?

1 DR. ZACHAROFF: Hi. Good morning. I'm Kevin
2 Zacharoff. I am faculty and clinical instructor and
3 course director on pain and addiction at the
4 Renaissance School of Medicine at Stony Brook
5 University. My expertise is in anesthesiology and Pain
6 Management. Thank you.

7 DR. CHOI: Dr. Calis?

8 (No response.)

9 DR. CHOI: Dr. Calis, are you muted by any
10 chance?

11 (No response.)

12 DR. CHOI: Dr. Calis?

13 DR. CALIS: Yes, I'm sorry. This is Karim
14 Calis. I am director of clinical research and
15 compliance for the National Institute of Child Health
16 and Human Development at NIH, and I'm also chair of the
17 Intramural IRB. Thank you.

18 DR. CHOI: Dr. Habel?

19 DR. HABEL: Yes. Good morning. This is
20 Laurel Habel. I'm an epidemiologist and the associate
21 director at the Division of Research at Kaiser
22 Permanente, Northern California.

1 DR. CHOI: Dr. Hernandez-Diaz?

2 DR. HERNANDEZ-DIAZ: Hi. Sonia

3 Hernandez-Diaz, professor of pharmacoepidemiology at
4 the Harvard Chan School of Public Health in Boston.

5 DR. CHOI: Dr. Hovinga?

6 DR. HOVINGA: Collin Hovinga. I'm a clinical
7 pharmacologist and epidemiologist, a faculty
8 appointment at UT Austin, and I'm also the senior vice
9 president for clinical and scientific development at
10 I-ACT for Children.

11 DR. CHOI: Dr. Mehta?

12 DR. MEHTA: Hi. Reema Mehta, head of Risk
13 Management and Safety Surveillance Research at Pfizer,
14 serving as the nonvoting industry rep.

15 DR. CHOI: Dr. Meisel?

16 DR. MEISEL: Good morning. Steve Meisel. I
17 am a director of medication safety for M Health
18 Fairview integrated health system based in Minneapolis.

19 DR. CHOI: Dr. Nelson?

20 DR. NELSON: Good morning. I'm Lewis Nelson.
21 I'm professor and chair of emergency medicine and a
22 medical toxicologist at Rutgers New Jersey Medical

1 School in Newark, New Jersey, and I'm a senior
2 consultant to the New Jersey Poison Center.

3 DR. CHOI: Dr. Soko Setoguchi let us know
4 about a last-minute personal matter and won't be
5 attending today.

6 Dr. Arfken?

7 DR. ARFKEN: Good morning. This is Cynthia
8 Arfken. I'm an epidemiologist and professor in
9 psychiatry at Wayne State University in Detroit,
10 Michigan.

11 DR. CHOI: Dr. Bateman?

12 DR. BATEMAN: Brian Bateman. I'm chief of
13 obstetric anesthesia at Brigham and Women's Hospital
14 and an associate professor at Harvard Medical School.

15 DR. CHOI: Dr. Ciccarone?

16 DR. CICCARONE: Good morning. This is Dan
17 Ciccarone. I am professor of Family and Community
18 Medicine at University of California, San Francisco,
19 and I'm board certified in family medicine and
20 addiction medicine.

21 DR. CHOI: Dr. Hincapie-Castillo?

22 DR. HINCAPIE-CASTILLO: Good morning. Juan

1 Hincapie-Castillo. I am an assistant professor of
2 pharmaceutical outcomes and policy at the University of
3 Florida College of Pharmacy.

4 DR. CHOI: Dr. McCann?

5 DR. McCANN: Hi. Good morning. I'm an
6 associate professor of anesthesiology at Harvard
7 Medical School and a pediatric anesthesiologist at
8 Children's Hospital in Boston.

9 DR. CHOI: Dr. Michna?

10 DR. MICHNA: Hi. Ed Michna, anesthesiologist,
11 pain management, Brigham and Women's Hospital in
12 Boston.

13 DR. CHOI: Dr. Porter?

14 DR. PORTER: I am the medical affairs senior
15 consultant and patient advocate with the Colorectal
16 Cancer Alliance and a stage 4 colon cancer survivor.

17 DR. CHOI: Dr. Thanh Hai?

18 DR. THANH HAI: Good morning. This is Mary
19 Thanh Hai. I'm the acting deputy director in the
20 Office of New Drugs.

21 DR. CHOI: Dr. Roca?

22 DR. ROCA: Good morning. This is Rigo Roca.

1 I am the division director for the Division of
2 Anesthesiology, Addiction Medicine, and Pain Medicine
3 in the Office of Neuroscience.

4 DR. CHOI: Dr. Staffa?

5 DR. STAFFA: Good morning. This is Judy
6 Staffa. I'm the associate director for Public Health
7 Initiatives in the Office of Surveillance and
8 Epidemiology, in CDER at FDA.

9 DR. CHOI: Dr. LaCivita?

10 DR. LaCIVITA: Good morning. My name is
11 Cynthia LaCivita. I'm the director for the Division of
12 Risk Management in the Office of Surveillance and
13 Epidemiology in CDER at FDA.

14 DR. CHOI: Thank you.

15 DR. BATEMAN: For topics such as those being
16 discussed at this meeting, there are often a variety of
17 opinions, some of which are quite strongly held. Our
18 goal is that this meeting will be a fair and open forum
19 for discussion of these issues and that individuals can
20 express their views without interruption. Thus, as a
21 gentle reminder, individuals will be allowed to speak
22 into the record only if recognized by the chairperson.

1 We look forward to a productive meeting.

2 In the spirit of the Federal Advisory
3 Committee Act and the Government in the Sunshine Act,
4 we ask that the advisory committee members take care
5 that their conversations about the topic at hand take
6 place in the open form of the meeting. We are aware
7 that members of the media are anxious to speak with the
8 FDA about these proceedings, however, FDA will refrain
9 from discussing the details of this meeting with the
10 media until its conclusion. Also, the committee is
11 reminded to please refrain from discussing the meeting
12 topic during breaks or lunch. Thank you.

13 Dr. Moon Hee Choi will read the Conflict of
14 Interest Statement for the meeting.

15 **Conflict of Interest Statement**

16 DR. CHOI: The Food and Drug Administration,
17 FDA, is convening today's joint meeting of the
18 Anesthetic and Analgesic Drug Products Advisory
19 Committee and the Drug Safety and Risk Management
20 Advisory Committee under the authority of the Federal
21 Advisory Committee Act of 1972. With the exception of
22 the industry representatives, all members and temporary

1 voting members of the committee are special government
2 employees or regular federal employees from other
3 agencies and are subject to federal conflict of
4 interest laws and regulations.

5 The following information on the status of
6 these committees' compliance with the federal ethics
7 and conflict of interest laws, covered by but not
8 limited to those found at 18 U.S.C. Section 208, is
9 being provided to participants in today's meeting and
10 to the public.

11 FDA has determined that members and temporary
12 voting members of these committees are in compliance
13 with federal ethics and conflict of interest laws.
14 Under 18 U.S.C. Section 208, Congress has authorized
15 FDA to grant waivers to special government employees
16 and regular federal employees who have potential
17 financial conflicts when it is determined that the
18 agency's need for a special government employee's
19 services outweighs his or her potential financial
20 conflict of interest, or when the interest of a regular
21 federal employee is not so substantial as to be deemed
22 likely to affect the integrity of the services which

1 the government may expect from the employee.

2 Related to the discussions of today's meeting,
3 members and temporary voting members of these
4 committees have been screened for potential financial
5 conflicts of interest of their own as well as those
6 imputed to them, including those of their spouses or
7 minor children and, for the purposes of 18 U.S.C.
8 Section 208, their employers. These interests may
9 include investments; consulting; expert witness
10 testimony; contracts, grants, C-R-A-D-As; teaching,
11 speaking, writing; patents and royalties; and primary
12 employment.

13 Today's agenda involves discussion of new drug
14 application, NDA 209257, proposed trade name, Hydexor,
15 a fixed-dose combination oral tablet, submitted by Olas
16 Pharma, Incorporated, that has hydrocodone,
17 acetaminophen, and promethazine, for the short-term,
18 not to exceed 3 days, management of acute postoperative
19 pain severe enough to require an opioid analgesic and
20 the prevention of opioid-induced nausea and vomiting in
21 patients who are at risk for or have a history of
22 nausea and vomiting.

1 This is a particular matters meeting during
2 which specific matters related to Olas Pharma's NDA
3 will be discussed. Based on the agenda for today's
4 meeting and all financial interest reported by the
5 committee members and temporary voting members, no
6 conflict of interest waivers have been issued in
7 connection with this meeting. To ensure transparency,
8 we encourage all standing committee members and
9 temporary voting members to disclose any public
10 statements that have been made concerning the product
11 at issue.

12 With respect to the FDA's invited industry
13 representatives, we would like to disclose that
14 Drs. Jay Horrow and Reema Mehta are participating in
15 this meeting as nonvoting industry representatives,
16 acting on behalf of regulated industry. Drs. Horrow
17 and Dr. Mehta's role at this meeting is to represent
18 industry in general and not any particular company.
19 Dr. Horrow is employed by Bristol-Myers Squibb and
20 Dr. Mehta is employed by Pfizer.

21 We would like to remind members and temporary
22 voting members that if the discussions involve any

1 other product or firm not already listed in the agenda
2 for which an FDA participant has a personal or imputed
3 financial interest, the participants need to exclude
4 themselves from such involvement, and their exclusion
5 will be noted for the record. FDA encourages all other
6 participants to advise the committees of any financial
7 relationships that they may have with the firm at
8 issue. Thank you.

9 DR. BATEMAN: We will proceed with the FDA's
10 opening remarks from Dr. Rigoberto Roca, the director
11 of the Division of Anesthesiology, Addiction Medicine,
12 and Pain medicine.

13 **FDA Opening Remarks - Rigoberto Roca**

14 DR. ROCA: Good morning, Dr. Bateman, members
15 of the AADPAC and DSaRM committees, and invited guests.
16 This is Rigo Roca, and as was just noted, I am the
17 division director of the review division that is
18 responsible for this particular product, the review of
19 this product.

20 This morning we will be discussing the
21 application by Olas Pharmaceuticals for Hydexor, which
22 is a combination product containing hydrocodone,

1 acetaminophen, and promethazine. As many of you are
2 aware, the application for this product was brought
3 before these committees on February 14th of 2018. The
4 purpose of today's meeting is to discuss whether the
5 currently proposed indication and the REMS have
6 adequately addressed the concerns identified during
7 that advisory committee meeting.

8 In the next few minutes I would like to
9 briefly review the agenda for today's meeting. After
10 the applicant's presentation, Dr. Tieu and I will
11 present the FDA perspective. That will be followed by
12 a break and the open public hearing. After the open
13 public hearing, I will give the charge to the
14 committee. We look forward to your discussions, and we
15 thank you for taking the time away from your busy
16 schedules to assist us.

17 Dr. Bateman?

18 DR. BATEMAN: Thank you.

19 Both the Food and Drug Administration and the
20 public believe in a transparent process for information
21 gathering and decision making. To ensure such
22 transparency at the advisory committee meeting, FDA

1 believes that it is important to understand the context
2 of an individual's presentation.

3 For this reason, FDA encourages all
4 participants, including Olas Pharma's non-employee
5 presenters, to advise the committee of any financial
6 relationship that they may have with the sponsor such
7 as consulting fees, travel expenses, honoraria, and
8 interest in the sponsor, including equity interest and
9 those based upon the outcome of the meeting.

10 Likewise, FDA encourages you at the beginning
11 of your presentation to advise the committee if you do
12 not have any such financial relationship. If you
13 choose not to address this issue of financial
14 relationships at the beginning of your presentation, it
15 will not preclude you from speaking.

16 We will now proceed with Olas Pharma's
17 presentations.

18 **Applicant Presentation - George Scott, Jr.**

19 MR. SCOTT: Thank you.

20 Good morning. My name is George Scott, and
21 I'm the executive VP of corporate affairs for Olas
22 Pharma, Incorporated, the sponsor of Hydexor. I also

1 serve as the company's chief legal officer. To
2 clarify, Olas Pharma is the wholly-owned subsidiary of
3 Charleston Laboratories.

4 We are here today to discuss with you our full
5 agreement and alignment that Olas Pharma has reached
6 with the agency in regards to addressing the concerns
7 raised by Hydexor's first advisory committee meeting on
8 February 14, 2018, which will enable Hydexor, a novel
9 medication with a novel indication, to be available to
10 a limited medically-supervised patient population,
11 which is subject to its own product-specific
12 comprehensive REMS to prevent opioid-induced nausea and
13 vomiting, or OINV, in order to address the unmet need
14 of postoperative patients at high risk of OINV with
15 hydrocodone-containing products.

16 No matter how you become nauseous, it is a
17 feeling no one desires. Whether it comes from morning
18 sickness, a migraine, seasickness, terrible food
19 poisoning, or chemotherapy, suffering nausea is
20 suffering nausea. No matter how it is caused, you will
21 do almost anything to avoid it.

22 When someone already has an underlying painful

1 condition, adding nausea to such suffering only
2 magnifies the patient's agony physically and
3 emotionally. Ironically, it is an ailment that is so
4 common as a side effect, we often minimize impact on
5 patient; that is until you or someone you care for
6 suffers from it.

7 OINV is common, burdensome, and costly.
8 Approximately 40 percent of patients prescribed an IR
9 opioid report nausea and about 20 percent report
10 vomiting. OINV places a significant burden on patients
11 in the healthcare system through suboptimal patient
12 recovery, poor patient outcomes, including inadequate
13 pain management, and increased costs. OINV is the
14 primary reason for non-adherence or discontinuation of
15 IR opioids, and once it occurs, OINV is difficult to
16 control.

17 Patient reports of nausea and the severity are
18 regularly cited as important side effects by drug
19 regulators. In 2018, the FDA congregated an advisory
20 committee meeting which addressed the importance of
21 nausea as a significant adverse effect of opioids.
22 There are many drugs at which the incidence and

1 severity of nausea have played a prominent role in the
2 drug label and use, even rejection of the proposed
3 drugs.

4 Currently, there are no medications approved
5 to specifically and proactively manage OINV. Hydexor
6 will be the first and only product with clinical data
7 supporting the management of OINV. Physicians may have
8 other options to treat OINV, but none are so indicated
9 or have clinical data to understand the associated
10 risk. We come before you today reaffirming our
11 commitment to responsibly bring this novel medication
12 to a specific and narrow patient population and
13 certified medically-supervised settings, thus
14 responsibly improving patient options for their acute
15 postoperative pain management.

16 Hydexor is a unique formulation using
17 well-characterized, approved analgesic and antiemetic
18 compounds supported by a robust clinical development
19 program, uncommon among 505(b)(2) applications.
20 Hydexor contains immediate-release hydrocodone and
21 acetaminophen, along with a novel formulation of
22 promethazine. We selected the lowest oral solid dose

1 of promethazine, which is half to one-quarter of what
2 is typically prescribed for nausea and vomiting.

3 As you know, the agency has agreed that
4 Hydexor met the requirements for approval under the
5 505(b)(2) regulatory pathway. This NDA is supported by
6 a comprehensive development program. Hydexor
7 demonstrated bioequivalence to its RLDs and Norco, the
8 active comparator. In addition, efficacy was shown in
9 two phase 3 clinical trials that met the co-primary and
10 key secondary endpoints.

11 The safety of Hydexor was established in the
12 analysis of over 642 patients exposed to Hydexor
13 throughout the two pivotal trials and one actual-use
14 safety study. Throughout the clinical program, no new
15 safety signals were identified. Olan has completed a
16 human abuse liability study that showed no increase in
17 the abuse potential of Hydexor compared to Norco at
18 supratherapeutic doses.

19 This development program demonstrated
20 Hydexor's efficacy in the short-term management of
21 acute pain and prevention of opioid-induced nausea and
22 vomiting and established a safety profile consistent

1 with its individual components.

2 Today's brief agenda is reflective of our
3 appreciation for you having read the Olas Pharma and
4 FDA briefing document that provide the regulatory
5 history and previous AdCom concerns. While the
6 February 2018 AdCom included the public testimony of
7 several independent experts from the sponsor, today we
8 will focus on the agreement we have reached with the
9 FDA to address the concerns outlined by the February
10 2018 AdCom to revise the labeling and develop a REMS
11 program unique to Hydexor to include significant
12 limitations in patient population, duration, dose, and
13 setting.

14 Dr. Bernard Schachtel will discuss the
15 clinical efficacy and safety attributes of Hydexor
16 through the lens of the revised labeling and
17 comprehensive product-specific REMS program. I will
18 return to further discuss the changes to our labeling
19 and the addition of a product-specific REMS program
20 unique to Hydexor, and describe the patient journey,
21 which emphasizes our willingness to engage with the FDA
22 to address the concerns identified at the February 2018

1 AdCom.

2 We are also fortunate to have as a respondent
3 our president and CEO, Paul Bossee, as well as an
4 internationally renowned authority on nausea and
5 vomiting in the postoperative setting, Dr. TJ Gan, from
6 the Renaissance School of Medicine at Stony Brook
7 University, as an additional expert to help answer
8 questions posed by today's committee.

9 I now will have Dr. Schachtel more
10 specifically discuss our clinical efficacy and safety
11 with respect to our modified labeling.

12 Dr. Schachtel?

13 **Applicant Presentation - Bernard Schachtel**

14 DR. SCHACHTEL: Thank you, George.

15 Members of the advisory committees, it's my
16 pleasure to speak with you today. You've received the
17 briefing documents prepared by FDA and Olas Pharma, and
18 with these as background information, I would like to
19 discuss the salient features of the findings of the
20 phase 3 trials in the Hydexor application, showing the
21 relevance to the label and the REMS program. These
22 were developed in response to the 2018 advisory

1 committees' concern about the possible adverse event of
2 sedation for patients who may be prescribed Hydexor,
3 but who may not be at risk of developing OINV.

4 As Dr. Roca said, it is because of the
5 advisory committees' input that we are here today to
6 discuss the FDA and Olas Pharma agreed label and
7 product-specific REMS for Hydexor. Throughout, you
8 will notice agreement between FDA and Olas Pharma
9 regarding the findings of the phase 3 studies that led
10 to the label and REMS you are reviewing today.

11 I intend to relate the label and REMS program
12 to three conclusions: showing that the new indication
13 addresses the 2018 advisory committees' advice to
14 identify patients appropriate for treatment with
15 Hydexor, that is patients at high risk of developing
16 OINV; showing that patient-reported nausea is
17 important, as nausea is a symptom which physicians
18 inquire directly about in order to identify this target
19 population; and showing that the components of the
20 label and the Hydexor-specific REMS mitigate potential
21 adverse events that may occur in postoperative patients
22 who receive Hydexor in an inpatient setting.

1 From the beginning of the clinical development
2 program, the efficacy standard for approval of Hydexor
3 was jointly agreed. The pivotal phase 3 studies were
4 required to demonstrate that Hydexor is effective as an
5 analgesic compared to placebo and that Hydexor is
6 effective compared to hydrocodone/acetaminophen, Norco,
7 in reducing the incidence of nausea and vomiting, that
8 is in preventing OINV.

9 The two pivotal trials were multicentered,
10 randomized, double-blind, active, and placebo
11 controlled. Study 002 was an oral surgery acute pain
12 model and Study 003 was a post-bunionectomy acute pain
13 model. As you can see, pain reduction was demonstrated
14 over the first 24 hours in the 002 study. The 003
15 study demonstrated the analgesic efficacy of Hydexor
16 over a 48-hour treatment observation period.

17 OINV was defined as the occurrence of vomiting
18 and/or use of antiemetic medication, over 24 hours in
19 the 002 study and over 48 hours in the 003 study. This
20 2-component OINV endpoint was met in both studies,
21 showing clinically significant relative risk reduction.

22 It is important to note that in the 003

1 post-bunionectomy study, patients were evaluated and
2 monitored over 48 hours as inpatients, similar to how
3 postoperative patients will be administered Hydexor in
4 the proposed label, in an medically-supervised
5 environment while being monitored carefully during
6 their inpatient stay.

7 The co-primary outcomes in the 002 study were
8 substantiated by prespecified key secondary and other
9 secondary endpoints. Noteworthy is that all key
10 secondary OINV endpoints in the 002 study supported the
11 conclusion of OINV prevention, including the finding
12 that compared to Norco, Hydexor reduced the intensity
13 of nausea as well as the frequency of vomiting over the
14 first 24 hours, which was the treatment observation
15 period of that study.

16 This reduction in nausea intensity observed in
17 the 002 study was confirmed over 48 hours in the 003
18 study, where the intensity of nausea was significantly
19 reduced for Hydexor-treated patients compared to
20 Norco-treated patients. I bring this up to underscore
21 the clinical importance of nausea to the assessment of
22 OINV.

1 Patient ratings on the Nausea Intensity Scale,
2 or the NIS, a standard 0 to 10 Likert scale, add to our
3 recognition and appreciation of the extent of OINV in
4 the controlled trials. Because of the relevance of
5 opioid-induced nausea to the detection of OINV, in fact
6 a 3-component OINV endpoint was also analyzed in both
7 trials. It included the occurrence of any moderate or
8 severe nausea without any vomiting --

9 DR. CHOI: Dr. --

10 DR. SCHACHTEL: Yes?

11 DR. CHOI: I'm sorry. Dr. Schachtel?

12 DR. SCHACHTEL: Yes?

13 DR. CHOI: We are not able to see your slides.

14 Can we take a quick break?

15 DR. SCHACHTEL: Of course.

16 (Pause.)

17 DR. BATEMAN: We're still working through the
18 issues around the presentation. We'll give you an
19 update as soon as we know anything.

20 (Pause.)

21 DR. SCHACHTEL: Hello? This is Bernie
22 Schachtel again. Can you hear me now?

1 DR. BATEMAN: We can.

2 DR. SCHACHTEL: Oh, great.

3 Dr. Bateman, you'll appreciate this. I
4 believe when I was an academic consultant and
5 presenting to the advisory committee in the 1990s, a
6 light bulb went out on the slide projector during an
7 advisory committee meeting, and I had to proceed
8 without any slides. So this is an improvement for
9 sure. I appreciate it.

10 DR. BATEMAN: Bernie, please start with CC-4.

11 DR. SCHACHTEL: I think that where I'd like to
12 start is actually on CC-7, please. CC-7 is supposed to
13 show what I was talking about in terms of -- there it
14 is. Okay. We're on the same page now.

15 What I was saying here is that the finding
16 among the secondary endpoints that supported the
17 conclusion of OINV prevention was showing that Hydexor
18 reduced the intensity of nausea over the initial
19 24-hour treatment observation period in the O02 study.
20 If you look at that slide and see the results for over
21 24 hours in the first controlled trial, you'll see that
22 this reduction of nausea intensity was confirmed over

1 48 hours in the 003 study.

2 Here you can see that the intensity of nausea
3 was significantly reduced for Hydexor-treated patients
4 compared to Norco-treated patients. I think you can
5 also observe that between 24 and 48 hours -- if you can
6 sort of project -- the nausea severity actually
7 worsened in the Norco group and actually improved in
8 the Hydexor group.

9 But I bring this up, really, to underscore the
10 clinical importance of nausea to the assessment of
11 OINV. Patient ratings on the Nausea Intensity Scale,
12 or NIS, which is a standard 0 to 10 Likert scale, add
13 to our recognition and appreciation of the extent of
14 OINV in the controlled trials.

15 Because of the relevance of opioid-induced
16 nausea to the detection of OINV impact, a 3-component
17 OINV endpoint was also analyzed in both trials. It
18 included the occurrence of any moderate or severe
19 nausea with any vomiting or use of antiemetic as
20 evidence of OINV, and this 3-component OINV endpoint
21 provided supportive evidence of preventative antiemetic
22 activity by Hydexor in both studies.

1 It is in this context that FDA's analyses of
2 patient assessments on the Nausea Intensity Scale in
3 the 003 study are particularly insightful I believe.
4 This figure shows the relationship between the severity
5 of nausea, patients' ratings from 0 to 10 on the NIS on
6 the X-axis, and the subsequent use of rescue
7 antiemetics. A greater proportion of patients with NIS
8 scores greater than 3, that is patients with more than
9 mild nausea, tended to request antiemetics later.
10 Twenty-five percent of patients with an NIS score of 6,
11 for example, subsequently used an antiemetic.

12 This research may have identified a clinical
13 useful relationship. Patient-reported NIS scores
14 increasing towards and then greater than 3 appear to
15 represent relatively more severe nausea and may be
16 indicative of a patient's worsening clinical status.
17 One example of worst clinical status in the
18 postoperative setting of course is retching. For this
19 reason, we measured episodes of retching as well as
20 episodes of vomiting in the 003 study.

21 As observed over the initial 48 hours of
22 opioid treatment, we learned there was 63 percent

1 relative reduction in the risk of developing emetic
2 episodes for patients using Hydexor compared to
3 patients using Norco. Patient reports of nausea are
4 critical signals to practitioners who care for
5 postoperative patients. They know firsthand that
6 nausea can be a very annoying or even agonizing symptom
7 for some patients, and then nausea may be the harbinger
8 of worst clinical conditions such as retching,
9 vomiting, aspiration, and surgical complications.
10 Clinicians know that preventing postoperative nausea
11 can improve pain management.

12 FDA's review of the OINV data from the
13 003 study and the 3-day duration of use proposed for
14 the Hydexor label led us to present the OINV outcomes
15 over 3 days. Note for example the incidence of nausea
16 and of moderate to severe nausea among patients using
17 Norco over 3 days. As you can see, 83.2 percent of
18 Norco-treated patients experienced opioid-induced
19 nausea with 51.6 percent reporting moderate to severe
20 nausea. Over 3 days, 24.4 percent of Norco-treated
21 patients vomited at least once; 28.8 percent had been
22 retching at least once.

1 Now as you can see in the bottom of this
2 slide, for Hydexor-treated patients, the frequencies of
3 these OINV outcomes differed noticeably and
4 significantly from Norco-treated patients. Looking at
5 the 3-component endpoint in the far-right column, for
6 example, which includes moderate to severe nausea as a
7 component, you can see that compared to patients using
8 Norco, significantly more patients using Hydexor had no
9 vomiting, no use of rescue antiemetic, and only mild or
10 no nausea.

11 The frequency of nausea, especially moderate
12 to severe nausea, was lower among patients taking the
13 same number of doses of Hydexor over 3 days. This
14 reduction in the occurrence and severity of nausea
15 further informs the therapeutic benefit of Hydexor.
16 Especially as suggested by the research from FDA above,
17 more severe nausea is indicative of a patient's
18 worsening clinical status for there were also notable
19 differences observed over 3 days in the frequencies of
20 vomiting and retching, indicating fewer emetic episodes
21 among Hydexor-treated patients.

22 Nausea is consequential to postoperative

1 patients as well as to the physicians attending them.
2 When they interview their patients, reviewing their
3 medical records and inquiring about risk factors for
4 postoperative nausea and vomiting, clinicians identify
5 patients at high risk for OINV. As a result of their
6 identification of these patients, we anticipate that
7 the opioid-induced nausea and other emetic outcomes
8 observed in these studies can accordingly be prevented
9 in practice.

10 Now I would like to add some comments on the
11 other safety data presented in the briefing documents,
12 showing how the label and product-specific REMS will
13 mitigate adverse events that may occur.

14 FDA provided a definitive plan for the
15 evaluation of the safety of Hydexor. Based on
16 considerable knowledge about the therapeutic use of
17 immediate-release hydrocodone/acetaminophen and many
18 years knowledge about promethazine, in particular when
19 used in higher dosages, the FDA directed that there
20 would be no need for a phase 2 dose-finding study. FDA
21 approved testing the 7.5-milligram dose of hydrocodone
22 and the 325-milligram dose of acetaminophen, combined

1 with the lowest approved dose of promethazine,
2 12.5 milligrams, and Olas Pharma was advised to proceed
3 directly to phase 3 evaluation.

4 To provide an ample safety database on the use
5 of Hydexor, in addition to the 002 and 003 studies, FDA
6 requested a third phase 3 study, a multicentered,
7 open-label, safety in-use study, 006. This safety
8 study was conducted on 179 patients with an acute flare
9 osteoarthritis of the knee or hip inadequately treated
10 with an NSAID. The intent of this actual-use study was
11 to document adverse events under real-world conditions.
12 It was conducted to examine the safety of Hydexor when
13 used as needed without medical supervision rather than
14 on a fixed schedule regimen as in the 003 study.

15 Because of its extensive safety database on
16 therapeutic uses of hydrocodone, acetaminophen, and
17 promethazine, FDA advised that the safety database for
18 Hydexor should comprise approximately 600 patients
19 using Hydexor in clinical settings. In the safety
20 evaluations of Hydexor in the 002, 003, and
21 006 studies, there were 642 patients treated with
22 repeated doses of Hydexor.

1 Except nausea and vomiting adverse events
2 which were documented as OINV efficacy endpoints, other
3 adverse events were assessed in two ways in the two
4 controlled trials, by assessments of 9 common
5 opioid-related side effects on 11-point Likert scales
6 and by standard patient reporting of any other adverse
7 events.

8 A comprehensive analysis of safety was
9 reviewed at the first advisory committee meeting and in
10 the briefing documents. Therefore, just as I
11 summarized the efficacy findings over 3 days as they
12 relate to the label and REMS that we are discussing
13 today, I would now like to summarize the safety
14 observations from the controlled clinical trials over
15 3 days.

16 The adverse event of most concern to the 2018
17 advisory committees was sedation, which was the most
18 common adverse event for all patients in the controlled
19 trials regardless of treatment. 91.7 percent of
20 Hydexor-treated patients reported drowsiness over
21 3 days, an incidence that was higher than the
22 85.6 incidence among Norco-treated patients and

1 54 percent of placebo-treated patients, indicating a
2 high background rate.

3 As assessed on the 0 to 10 drowsiness scale,
4 the mean severity of drowsiness in the Hydexor-treated
5 group was 3.91, greater than the 2.58 mean severity
6 recorded by Norco-treated patients or placebo, which
7 was 1.28. Patients taking Hydexor reported more severe
8 drowsiness than Norco- or placebo-treated patients.

9 It is worth noting in this context that when
10 patients used Hydexor as needed in the 006 actual-use
11 study, 18 percent of them reported drowsiness, and as
12 in the controlled trials, none had serious medical
13 consequences related to Hydexor. This evidence of safe
14 use of Hydexor on conditions of actual use adds another
15 perspective to the safety assessment of Hydexor. It is
16 also relevant to the evaluation of the proposed label
17 and REMS, which will mitigate any adverse events of
18 excessive sedation that may occur when patients use
19 Hydexor as needed under close medical supervision.

20 Overall, Hydexor was well tolerated in the
21 clinical trial. Most of the adverse events were in the
22 mild or low-moderate range of severity with ratings of

1 4 or lower on the 11-point opioid symptom scale, and
2 they were of limited duration. No adverse event caused
3 any interruption of study drug utilization and no
4 adverse event resulted in a patient's discontinuing his
5 or her use of study medication.

6 There were no clinically significant drug
7 related sequelae such as a fall, even among patients
8 who reported severe drowsiness, which was reduced in
9 incidence and severity as dosing decreased, and all
10 adverse events resolved without recurrence as patients
11 continued using study medication. There were three
12 serious adverse events among all patients in controlled
13 clinical trials, none drug-related, and no respiratory
14 depression. There is no evidence of increased risk of
15 respiratory depression with Hydexor compared to Norco.

16 Finally, there were no new safety signals. As
17 a result, for clinicians there is a predictable and
18 manageable safety profile for Hydexor which utilizes
19 the lowest approved 12.5-milligram dose of
20 promethazine, lower than 25- and 50-milligram oral
21 doses customarily prescribed for adults, resulting in
22 considerably lower total daily dose of promethazine.

1 While no serious adverse events occurred
2 during the 48-hour treatment observation period of
3 Study 003, it is worth noting again that patients were
4 required to stay in the study for the first 48 hours of
5 this study and were subject to careful observation.
6 These inpatient conditions of careful observation are
7 proposed among the safeguards, which the label
8 stipulates for the use of Hydexor. With this in mind,
9 I would like to summarize how the proposed Hydexor
10 label and REMS respond to the advice from FDA and the
11 2018 advisory committees.

12 First, the drug is to be administered only to
13 postoperative adult patients who are at high risk of
14 nausea and vomiting from hydrocodone-containing
15 products. Physicians in practice know their patients,
16 and they know how to identify high-risk patients.
17 These patients will comprise the targeted populations
18 for the prescription of Hydexor.

19 To assure monitoring and management of
20 potential side effects, including fall prevention
21 protocols, which are already operational for post-op
22 patients in the hospital, Hydexor will be available

1 only for inpatient use in certified,
2 medically-supervised healthcare settings such as
3 hospitals and surgical centers.

4 Administration of Hydexor will be limited to
5 3 days in the inpatient setting, and the proposed label
6 limits use of Hydexor to 5 tablets per day. This
7 reduced total daily dosage should further enhance the
8 safe and effective use of Hydexor, as should the
9 limitation to a maximum of 15 tablets that may be
10 dispensed for a patient. Hydexor should be used only
11 when non-sedating alternatives are either not tolerated
12 or not effective.

13 Finally, a REMS program specific for Hydexor
14 and meeting FDA standards will be operational for all
15 facilities where Hydexor is dispensed. This REMS
16 program was designed to assure that only certified
17 wholesalers distribute Hydexor to certified healthcare
18 settings where personnel are trained to administer
19 Hydexor and to manage side effects if they occur.

20 In conclusion, I hope that this review of the
21 findings from the phase 3 studies helps you understand
22 the clinical benefits of Hydexor for post-op patients

1 and how the targeted label and REMS program will
2 mitigate any potential adverse event. Together this
3 label and REMS program will enable the safe use of
4 Hydexor by post-op patients at high risk of OINV,
5 providing good pain management.

6 Now I'll turn the virtual podium back to
7 George.

8 **Applicant Presentation - George Scott**

9 MR. SCOTT: Thank you, Dr. Schachtel.

10 I would now like to discuss the evolution of
11 Hydexor's label and how Hydexor's current label
12 addresses the concerns of the previous AdCom. At the
13 time of the February 2018 AdCom, Hydexor's proposed
14 indication was for the short-term, generally less than
15 14 days management of acute pain, severe enough to
16 require an opioid analgesic while preventing
17 opioid-induced nausea and vomiting in patients expected
18 to be prone to nausea and vomiting. Hydexor was being
19 proposed for both the inpatient and the outpatient,
20 regardless of OINV risk status.

21 Hydexor's clinical program was the genesis of
22 this original indication, as it consisted of studies

1 traditionally accepted as model for treatment of
2 general acute pain that were enriched with a population
3 likely to experience OINV, and included safety data for
4 14 days of treatment. The agency agreed that Hydexor
5 was efficacious with respect to pain and OINV. The
6 agency also concluded that Hydexor did not pose any
7 serious adverse events of consequence and was safe in
8 the proposed patient population.

9 As a result of the 2018 AdCom, the agency did
10 not approve Hydexor, stating that Olas did not identify
11 a patient population that predictably requires
12 concomitant therapy with an opioid analgesic and a
13 pre-emptive antiemetic. To clarify, although the
14 agency's complete response letter seemed to suggest
15 that Hydexor's clinical trials needed to demonstrate
16 effectiveness with every dose for every patient, the
17 agency clarified that this was not the standard of
18 approval and not required to meet the combination.

19 The agency's concern was that the antiemetic
20 component of Hydexor was not needed by a majority of
21 patients in the two pivotal studies, however, the
22 agency again clarified that there was no regulatory

1 requirement that a majority of patients have this need.
2 This concern was based on analysis using the
3 conventional regulatory 2-component definition of
4 patients treated with Norco and Hydexor's 003 clinical
5 trial, and it concluded that since the majority of
6 patients treated with Norco did not experience OINV,
7 the majority of patients being prescribed Hydexor in
8 practice could be exposed to the potential risk of
9 promethazine without receiving its benefit.

10 The agency's analysis was limited to the
11 standard regulatory definition of opioid-induced nausea
12 and vomiting, which consists of two objective criteria,
13 vomiting and/or requesting an antiemetic. However, as
14 physicians appreciate and the agency has agreed,
15 patient-reported nausea can merit treatment with an
16 antiemetic.

17 A 3-component definition of OINV, including
18 any moderate to severe nausea, vomiting, or use of an
19 antiemetic, is indicative to how physicians understand
20 and detect nausea and vomiting in practice and is more
21 reflective of how patients report nausea and vomiting.
22 The literature of OINV reflects patient-reported

1 nausea, which reports approximately 40 percent of
2 patients exposed to an opioid in the postoperative
3 setting experience nausea, and it's not limited to
4 nausea for which patients request an antiemetic.

5 When patient-reported nausea is taken into
6 account, the analysis of patients treated with Norco in
7 the 003 Hydexor clinical trial for days 1 through 3
8 shows that the majority, over 83 percent, of patients
9 did experience opioid-induced nausea. Almost
10 52 percent of Norco-treated patients reported moderate
11 to severe nausea, which the agency has acknowledged may
12 require an antiemetic. Thus, when considering the
13 3-component definition of OINV in days 1 to 3
14 post-surgery, a majority, 56 percent, of Norco-treated
15 patients experienced OINV, which may require treatment
16 with an antiemetic.

17 In her judgment, as noted in the FDA briefing
18 document, Dr. Thanh Hai, now acting deputy director for
19 the Office of New Drugs, acknowledged the importance of
20 patient-reported nausea as she stated that, "Efficacy
21 was established with both the 2-component and
22 3-component endpoints. There is validity in accepting

1 the analyses based on the NIS" -- that is the Nausea
2 Intensity Scale or patient-reported nausea -- "as
3 supportive evidence that a significant proportion of
4 patients experienced symptoms that could merit
5 treatment with an antiemetic."

6 Understanding the concern that not all nausea
7 may warrant the use of an antiemetic, all nausea does
8 impact patient recovery, especially in the
9 postoperative setting. The 2018 AdCom concern
10 regarding giving an antiemetic to a patient with
11 nausea, but potentially not severe enough to utilize an
12 antiemetic, is a legitimate theoretical concern.

13 Based on the clinical data, the most likely
14 additional risk of the use of Hydexor and the patient
15 at risk for or experiencing nausea is an increased risk
16 of severe drowsiness. However, the modification to the
17 Hydexor label and REMS more than adequately mitigate
18 this concern by its restriction to a monitored setting
19 in a patient population more likely to experience OINV
20 and where OINV can have the greatest impact on patient
21 recovery.

22 Thus, with the agency's acknowledgement of the

1 significance of patient-reported nausea and
2 clarification of the standard of regulatory approval,
3 we worked with the agency to reach agreement on the
4 appropriate label that clearly identifies the patient
5 population that would most benefit from Hydexor and
6 mitigate risk to patients through labeling and REMS.

7 Let's take a more detailed look at this new
8 indication and Hydexor REMS and the previous AdCom's
9 concerns. The previous AdCom concerns fell into three
10 major categories: commercial or clinical practice
11 concerns; concerns regarding exposing patients to
12 sedative risk of promethazine who may not need its
13 benefit; and those related to the mitigation of abuse
14 or misuse. The agency and Olas agree that the new
15 proposed label and REMS adequately address all such
16 relevant concerns.

17 With respect to commercial concerns, although
18 we are not here to address those concerns, nor are they
19 relevant to the standard for approval, the worries such
20 as packaging, CYP-2D6, and physician targets are now
21 addressed by Hydexor's new label and REMS.

22 With respect to concerns regarding exposing

1 patients not at risk for OINV to the potential sedative
2 risk of promethazine, which was the concern that drove
3 the agency to issue a complete response letter, let's
4 look at how the new indication and Hydexor REMS address
5 this concern.

6 Hydexor's clinical data showed no serious
7 adverse events of consequence, including zero cases of
8 falls or respiratory depression. These data include an
9 actual-use safety study for 14 days where patients were
10 allowed to continue their normal daily activities and
11 39 patients taking suprathreshold doses of 5 times
12 the prescribed dose. That's in our human abuse
13 liability study.

14 There were more instances of severe
15 drowsiness, which although there were no consequences
16 seen in our clinical trials in such instances, the risk
17 of drowsiness is something that we've mitigated through
18 labeling and REMS, which include specific safety
19 protocols and procedures for postoperative inpatients.
20 Nonetheless [indiscernible], Hydexor's current proposed
21 indication limits promethazine exposure to 3 days in
22 post-surgical inpatients at high risk of OINV, patients

1 who are most likely to receive the greatest benefit of
2 prevention of OINV.

3 Further, Hydexor will be administered only by
4 healthcare professionals certified as trained to
5 administer Hydexor. Thus, if any serious side effects
6 of consequence do occur, which did not occur in our
7 trials, they will transpire while the patient is being
8 monitored by certified healthcare professionals trained
9 to deal with the risk of Hydexor, including a fall
10 protocol, which is part of Hydexor's REMS.

11 With respect to concerns related to abuse or
12 misuse, there was no difference shown in abuse
13 potential versus Norco, nor any instances of
14 respiratory depression. Hydexor is now limited to
15 postoperative inpatient in certified healthcare
16 settings. It will not be available at retail
17 pharmacies.

18 Thus, Hydexor will only be distributed from
19 the manufacturer to certified wholesalers who certify
20 that they will only distribute Hydexor to these
21 certified healthcare facilities, which will have
22 healthcare professionals trained to administer Hydexor

1 and manage any of its side effects, and also trained
2 not to dispense Hydexor outside of such medically
3 supervised settings.

4 Patients will receive 1 Hydexor tablet at a
5 specified time and measured only by a certified
6 healthcare professional on an as-needed basis in a
7 supervised medical setting. Thus, any risk of misuse
8 or abuse that's adequately mitigated, such mitigation
9 is governed by Hydexor's comprehensive REMS program
10 unique to Hydexor.

11 To provide context of this discussion, I now
12 want to outline what a Hydexor patient journey would
13 entail pursuant to its label and product-specific REMS.
14 The Hydexor patient journey, with respect to its agreed
15 label and its REMS program, is direct, closely
16 monitored, limited in duration, and only applicable to
17 surgical inpatients.

18 The journey begins in the pre-surgery period
19 where the patient undergoes comprehensive tests and a
20 review of their medical history to ensure they are fit
21 for surgery and to identify any risk factors. This
22 period is usually 2 to 4 weeks, but varies depending on

1 the type and urgency of the surgical procedure.

2 On the day of surgery, the patient is admitted
3 to the hospital and given a patient-specific barcode
4 bracelet used for the patient identification throughout
5 the remainder of their stay. Prior to surgery, the
6 patient's identification, medical history, and any
7 potential risks are confirmed. The patient then
8 receives an IV and is connected to the vital-sign
9 monitor.

10 The surgery is performed and the patient is
11 transferred to their inpatient stay room where the
12 patient's care is closely monitored on a schedule that
13 includes blood work, vital signs, wound healing, and
14 pain management. Provided the healthcare facility is
15 certified through the Hydexor REMS program, it is in
16 this immediate postoperative period that the patient
17 may be prescribed Hydexor.

18 To administer Hydexor, the medical
19 professional must obtain the tablet from the hospital
20 pharmacy pursuant to standard operating procedures
21 which monitors all scheduled medication. The medical
22 professional scans the barcode of the patient to ensure

1 the correct patient is receiving this medication and to
2 prevent medication errors or potential drug-drug
3 interaction. The patient must ingest the tablet in the
4 presence of the medical professional.

5 The patient is connected to a vital-sign
6 monitor during this inpatient period with scheduled
7 workups ensuring any potential risks will be constantly
8 monitored, providing additional protection for patients
9 receiving Hydexor.

10 The maximum number of Hydexor tablets that the
11 patient could receive is 15, 5 per 24-hour period over
12 3 days. If the patient remains in the hospital longer
13 than 3 days and still requires an opioid medication for
14 pain control, they would need to be transitioned to an
15 alternative medication, as Hydexor will only be
16 available in certified healthcare facilities for
17 postoperative inpatients which have fall protocols on
18 site. It will not be available to physicians or
19 patients in the post-discharge outpatient retail
20 setting. This means that upon discharge, patients will
21 not have access to Hydexor from their doctors or at
22 their local retail pharmacy.

1 The revised labeling and Hydexor REMS made the
2 Hydexor patient's journey direct, supervised, and safe.
3 Hydexor is a safe and effective solution for patients
4 who need pain management and are at high risk of
5 opioid-induced nausea and vomiting from hydrocodone
6 products.

7 As we discussed, the original indication had
8 the intended population as all acute pain patients
9 expected to be prone to nausea and vomiting for up to
10 14 days and no more than 6 pills per day, with pain
11 severe enough to require an opioid when non-opioid
12 alternatives are either not tolerated or ineffective.
13 Let's explore the differences between the original
14 label and the new label you see before you.

15 Hydexor is now indicated for the management of
16 acute postoperative pain severe enough to require an
17 opioid analgesic, not all acute pain patients. Hydexor
18 can only be used in postoperative adults at high risk
19 for nausea and vomiting with hydrocodone-containing
20 products, a significantly smaller subset of adults
21 expected to be prone to nausea from any opioid product.

22 Previously, Hydexor was proposed when any

1 alternative therapy was not tolerated or effective.
2 That has been further restricted to any non-sedating
3 alternative therapy. Hydexor is now limited to 3 days
4 or less of therapy, with no more than 5 pills per day,
5 versus the prior proposal of 14 days or less and no
6 more than 6 pills per day.

7 Further, Hydexor has limited use in certified,
8 medically-supervised healthcare settings such as
9 hospitals and surgical centers. In addition, Hydexor
10 is subject to a unique product-specific comprehensive
11 REMS more regimented than standard classwide REMS,
12 which ensures that only certified wholesalers
13 distribute Hydexor to certified healthcare settings,
14 which have on-site fall protocols with personnel
15 trained to administer Hydexor and manage its side
16 effects.

17 We are proud to say that with respect to
18 Hydexor, the advisory committee process works. After
19 considering the committee's concerns raised in 2018,
20 we've worked with the agency and have reached agreement
21 and full alignment on how to move forward with Hydexor,
22 with a revised label in postoperative inpatients at

1 high risk for OINV from hydrocodone-containing
2 products, in a certified, medically-supervised setting
3 for a limited duration.

4 Also, unlike other immediate-release opioids
5 like Norco and other IR hydrocodone products in the
6 inpatient setting that do not have a REMS program,
7 Hydexor is subject to its own comprehensive REMS, which
8 ensures, among other things, that Hydexor is only
9 distributed in the inpatient setting by certified
10 healthcare professionals.

11 In summary, OINV creates a significant burden
12 in the postoperative inpatient setting. Hydexor has
13 demonstrated efficacy in the postoperative inpatient
14 setting, both in terms of pain relief and sustained
15 prevention of OINV for the 3-day inpatient period.
16 Throughout the clinical program, no new safety concerns
17 were identified, for the expected increase in the
18 incidence in the intensity of drowsiness is now
19 mitigated to the revised label and REMS program, which
20 is unique to Hydexor.

21 These attributes, coupled with Olas Pharma's
22 commitment to responsible use, create a favorable

1 benefit-risk profile for Hydexor. Thank you for your
2 time this morning, and thank you for bearing with us
3 through our technical difficulties. We look forward to
4 addressing any questions.

5 **Clarifying Questions**

6 DR. BATEMAN: Thank you. We will now take
7 clarifying questions for Olas Pharma. Please use the
8 raised-hand icon to indicate that you have a question
9 and remember to clear the icon after you have asked
10 your question. When acknowledged, please remember to
11 state your name for the record before you speak and
12 direct your question to a specific presenter if you
13 can.

14 If you wish for a specific slide to be
15 displayed, please let us know the slide number if
16 possible. Finally, it would be helpful to acknowledge
17 the end of your question with a thank you and the end
18 of your follow-up question with, "That is all for my
19 questions," so we can move on to the next panel member.

20 Dr. Meisel?

21 DR. MEISEL: Hi. Thank you. Steve Meisel
22 with M Health Fairview in Minneapolis. I have a couple

1 of related questions to each other. First of all,
2 specifically, you're proposing that only the 7 and a
3 half milligram strength would be approved, correct?
4 Norco, Vicodin they've got three strengths of 5, 7 and
5 a half, and 10, but you're only asking for seven and a
6 half. Is that correct? Norco, Vicodin, they've got
7 three strengths of 5, 7 and a half, and 10, but you're
8 only asking for 7 and a half? Is that correct?

9 MR. SCOTT: Yes. The Hydexor, the medication
10 and the NDA contains 7.5 milligrams of hydrocodone,
11 correct.

12 DR. MEISEL: As common sense, 7 and a half is
13 a midpoint, though. Some people need more; some people
14 can get by with less. In your clinical trials, did
15 anybody require more than 1 tablet per dose?

16 MR. SCOTT: Yes. Thank you for your question,
17 and I'll have Dr. Schachtel speak to this. But the
18 short answer to your question is no, that's not how our
19 trials were designed. Every dose was 7.5 milligrams,
20 and it was fixed dose to have clear comparison with
21 Norco.

22 This is the dose that's on the table right

1 now, obviously, the 7.5. We have other dosages in
2 development, but the Hydexor, this particular
3 formulation of Hydexor as you know is not for every
4 patient or every physician. But to the extent a
5 physician feels that this dosage and Hydexor is
6 appropriate according to the label for their patient,
7 they would administer it at that point. If it's the
8 wrong dosage with respect to pain, Hydexor would not be
9 the product at this time for them at this dosage. But
10 because of the REMS program and the limitation of the
11 inpatient, we do feel that when it is administered, it
12 would be safe.

13 Dr. Schachtel, could you speak to that, the
14 clinical program in the dosing?

15 DR. SCHACHTEL: Dr. Michna [sic], there's
16 really nothing to add. They all received only
17 7.5 milligrams.

18 DR. MEISEL: The other clarifying question
19 relating to this topic is Norco, Vicodin, you can take
20 that up to 6 tablets a day, but you're only
21 recommending 5 tablets a day. If a patient were to
22 require a sixth dose, what are you suggesting that your

1 labeling tell physicians to do?

2 MR. SCOTT: Yes, thank you. Well, our
3 label -- yes, correct -- it caps the dosing at 5. So
4 after that, they would have to transition to another
5 medication. Because our label has that limitation and
6 they still required pain management and they required
7 opioids, they would have to go back to Norco or an
8 alternative.

9 DR. MEISEL: Okay. Finally, do you have any
10 data that suggests that 12 and a half milligrams of
11 promethazine is -- I don't want to say antidote, but
12 effective anti-nausea dose for 7 and a half of
13 hydrocodone, so that if a second tablet was required,
14 they would 25 milligrams.

15 Is that sort of the equivalent or if you
16 needed a second tablet, 12 and a half would be adequate
17 as an anti-nausea product? And this may not be with
18 the current label that you're recommending, but in the
19 earlier trials that you base this on.

20 MR. SCOTT: Yes. I just want to make sure I
21 understand your question. Is your question do we have
22 data on the accumulation of any potential side effect

1 as we continue to dose or whether or not the efficacy
2 of the promethazine, of the 12.5 with our tablet,
3 remains efficacious?

4 DR. MEISEL: Well, I guess what I'm getting
5 at -- and you're suggesting people don't take a second
6 tablet, or a tablet and a half, or whatever, but
7 certainly if they did, they would increase their
8 exposure to promethazine. And I'm trying to understand
9 whether in addition to the increase in side effect,
10 whether they would get increase in benefit.

11 MR. SCOTT: I understand. So I'm going to
12 have both
13 Dr. Gan speak to this from a practice perspective with
14 respect to how he understands what the label is
15 directing to do, and then also what we saw in our
16 clinical trials from Dr. Schachtel. But first I'll go
17 to Dr. Gan.

18 Dr. Gan?

19 DR. GAN: Thank you. Good morning, panel
20 members. My name is TJ Gan. I'm a professor and chair
21 at Stony Brook School of Medicine. I have an interest
22 in this topic of postoperative nausea and vomiting, and

1 have been a lead author for PONV International
2 Consensus, most recently published two months ago in
3 Anesthesia and Analgesia. I receive honorarium from
4 Charleston Laboratory.

5 To answer your question regarding the efficacy
6 of 12.5 milligrams of oral promethazine, the data
7 suggests that this dose is effective, as has also been
8 shown by the two pivotal trials. We have conducted
9 previous studies and found that the minimum efficacious
10 dose for promethazine is 6.25 milligrams intravenously.
11 As you know, there is no formulation for oral
12 promethazine at 6.25. 12.5 is the lowest oral dose and
13 has been shown to be effective.

14 Back to you, George.

15 MR. SCOTT: Thank you, Dr. Gan.

16 Dr. Schachtel, if you can approach it from a
17 clinical perspective and what we saw in our study.

18 DR. SCHACHTEL: Yes. We did not study other
19 dosages of promethazine, so I can't answer the question
20 from that perspective. I'm sorry to say that's all I
21 can answer.

22 DR. MEISEL: Okay. Thank you. That concludes

1 my questions.

2 MR. SCOTT: Thank you.

3 DR. BATEMAN: This is Brian Bateman. I have a
4 question. The proposed label says that the medication
5 should be used only when non-sedating alternatives are
6 either not tolerated or ineffective, but we didn't hear
7 in the patient journey, or in the briefing document
8 when the patient's journey was described, an example of
9 a trial of a non-sedating antiemetic.

10 So I'm just wondering how the sponsor foresees
11 that criteria being met. How would it be established
12 that a non-sedating alternative was not tolerated or
13 ineffective?

14 MR. SCOTT: Yes. Thank you for your question,
15 and I will have Dr. Gan address how this label will
16 communicate to the clinician when Hydexor is
17 appropriate and for what patient.

18 Dr. Gan?

19 DR. GAN: Sure. I will be happy to answer
20 that question. There are a number of different
21 antiemetics. Probably the one or two that are
22 non-sedating, I would consider those are things like

1 drugs such as ondansetron, a 5-HT3 antagonist, and
2 Aprepitant, which is an NK1 antagonist. All the other
3 antiemetics come with its sedative properties.

4 The way I would see this product may
5 potentially be used is in patients who have high risk
6 for nausea and vomiting, and the consensus guidelines
7 would recommend to give a patient prophylaxis
8 antiemetics, and ondansetron and dexamethasone are
9 probably two most widely used prophylactic antiemetics.

10 So in the postoperative setting, when the
11 patient develops nausea and vomiting -- and we know
12 from ample data that suggest that to repeat ondansetron
13 does not work well. So in this context, one has to
14 move on to another antiemetic. And in the context when
15 the patient needs analgesia that is severe enough to
16 require an opioid analgesic and have nausea and
17 vomiting in the postoperative setting, and therefore is
18 considered high risk, I would think that in this
19 context, Hydexor, which has both an opioid analgesic
20 and an antiemetic as a lowest dose of promethazine,
21 would be recommended for that particular patient who
22 are high risk and in a hospital environment under

1 continuous monitoring.

2 I hope that answers your question. Back to
3 you, George.

4 DR. BATEMAN: Sure, but just to follow up on
5 that, you're kind of describing a scenario where the
6 patient already received ondansetron and dexamethasone
7 and had nausea and vomiting. That's different than the
8 label is proposing, where Hydexor would be given to
9 patients at high risk for nausea and vomiting.

10 DR. GAN: That is correct. So typically, we
11 don't give patients this drug -- I would not clinically
12 use it preoperatively; I would use it postoperatively
13 when the patient requires analgesia and is able to take
14 oral pills. That is in that context I think this
15 medication would be used clinically.

16 DR. BATEMAN: Okay. So we're going to move
17 on, and then we will come back for additional
18 clarifying questions later.

19 We're now going to move on to the FDA
20 presentations starting with Dr. Roca.

21 **FDA Presentation - Rigoberto Roca**

22 DR. ROCA: Hello again. This is Rigo Roca,

1 and my colleague Dr. Tieu and I will be presenting the
2 FDA perspective.

3 This is an overview of a presentation from
4 this morning. I will briefly go over the regulatory
5 history and touch upon the efficacy and safety, some of
6 what you have just now heard by the applicant's
7 presentation. I will comment on utilization data, and
8 then Dr. Tieu will speak about the proposed REMS.

9 The original NDA submission was in 2016, and
10 at that time, the indication was for the relief of
11 moderate to severe pain while preventing or reducing
12 opioid-induced nausea and vomiting. That submission
13 received a complete response in January of 2017.
14 During the second review cycle, which began in October
15 2017, the application was presented to the combined
16 AADPAC-DSaRM committee, as was noted, in February of
17 2018.

18 The proposed indication was very similar to
19 the original proposal with respect to preventing and
20 reducing opioid-induced nausea and vomiting, but it's
21 noted to be for short-term management of severe pain.
22 Among the issues discussed in the advisory committee,

1 which you have also heard this morning, the committee
2 noted that opioid-induced nausea and vomiting may
3 decrease over time and that there was the potential for
4 side effects not commonly described for other
5 antiemetics. It was also noted that although the
6 applicant demonstrated efficacy in the clinical trials,
7 they have not adequately identified a patient
8 population that predictably requires the proposed
9 concomitant therapy as an analgesic and antiemetic.

10 The applicant received a complete response
11 letter in April 2018, and during a post-action meeting
12 held in May of that year, the applicant acknowledged
13 the need to identify a patient population that requires
14 Hydexor. They also proposed another modification to
15 the indication to limit the number of days.

16 The division did not agree with the new
17 indication being proposed because of concerns that many
18 patients could be exposed to promethazine who did not
19 appear to need an antiemetic. In a post hoc analysis
20 conducted by the review team, it was noted that in an
21 enriched population, approximately 30 percent of the
22 patients in the comparative treatment group Norco

1 developed opioid-induced nausea and vomiting on day 1,
2 and that the frequency decreased over the 5-day course
3 of the study. It should be noted, however, that this
4 and other post hoc analyses still demonstrated a
5 treatment effect for Hydexor.

6 The discussions continued during this meeting
7 and the following points were made. The applicant
8 proposed to further limit the indication to
9 post-surgical patients only and would consider
10 additional text to other parts of the package inserts
11 such as the contraindication, or warning and
12 precautions, and the addition of a boxed warning. The
13 applicant also noted that the product will be used on
14 an as-needed basis and the proposed dosing regimen
15 would further limit the number of tablets per day.

16 The applicant proposed that safety will be
17 further assessed on a postmarketing period through an
18 observational study. The applicant also noted that the
19 FDA analysis had excluded other clinically relevant
20 presentations of opioid-induced nausea and vomiting
21 that could be further analyzed from the secondary
22 endpoint. And at the end of the meeting, it was agreed

1 that additional analysis could potentially identify the
2 benefits of Hydexor, and that these analyses would be
3 included in response to the second complete response
4 letter.

5 Part of the reason I'm going through this
6 regulatory history is because I think it will give you
7 a picture of the different interactions that occurred
8 since 2018 and today. This brought us to a third
9 review cycle which began in August of 2018. This
10 submission did not contain any [indiscernible] data,
11 instead containing additional analysis in
12 subpopulations of study CLCT-003, which you might
13 recall was a study in post-bunionectomy patients.

14 The review team evaluated the additional
15 analysis and concluded that the concern remained, the
16 lack of a demonstration of patient population that can
17 prospectively be identified as predictably requiring
18 this product. The third complete response letter was
19 issued in February 2019 with the deficiency as noted on
20 the screen.

21 After receiving the third complete response
22 letter, the applicant submitted a request for a formal

1 dispute resolution. In this request the applicant
2 asked that the office director rescind the complete
3 response letter and approve the product. At the end of
4 the formal dispute resolution process, the applicant's
5 request was denied.

6 Dr. Thanh Hai in her letter, which was
7 included in the background package, noted that the
8 division was to reconsider the applicant's proposed
9 labeling revisions and consider revisions that would
10 address the agency's concerns. She noted in her letter
11 that the revision could further include restrictions on
12 dosing, patient population, labeling claims, packaging,
13 and distribution, which may require a REMS.

14 Now we are in the fourth review cycle. The
15 submission was received in June of last year and
16 contained a new proposed labeling and a REMS. The
17 counter-proposal to the indications are on the screen
18 and, as you noted, the applicant in their presentation
19 presented the indication as well. As was described,
20 this stipulates an adult post-surgical patient
21 population that is at high risk of opioid-induced
22 nausea and vomiting and a maximum number of days.

1 Additionally, limitations of use are included as noted
2 here on the screen and as described by the applicant.

3 I'm only going to very briefly remind the
4 committee that the application contained the results of
5 two studies that demonstrated the efficacy of Hydexor.
6 One was in the post-dental surgery patients and one was
7 in post-bunionectomy patients as you heard earlier this
8 morning. The efficacy was not in question before, nor
9 is it now, and therefore I will not comment further.

10 With respect to safety, the safety profile of
11 the combination product, hydrocodone/acetaminophen, and
12 promethazine are well known and have been in clinical
13 use for many years. In addition, the safety profile of
14 the proposed combination product has also been well
15 characterized and discussed the previous advisory
16 committee.

17 Touching briefly on drug utilization
18 information, as part of the NDA review, our colleagues
19 in the Office of Surveillance and Epidemiology
20 evaluated drug utilization data to provide context and
21 background information for the components of Hydexor.
22 This graph shows the nationally estimated number of

1 patients with a hospital discharge billing for
2 hydrocodone and acetaminophen and promethazine on the
3 same day in U.S. non-federal hospitals.

4 As shown by the gray bars, the total number of
5 patients with concurrent use has declined. We further
6 stratified the data by location of care to understand
7 these drug components that have been administered
8 within the hospital. As shown by the colored line,
9 overall, the number of patients with concurrent use has
10 declined in all locations of care in our study period,
11 with about half of those patients spending some time in
12 the operating room.

13 I will now turn the presentation over to Dr.
14 Tieu from the Division of Risk Management.

15 **FDA Presentation - Carolyn Tieu**

16 DR. TIEU: Thank you, Dr. Roca.

17 My name is Carolyn Tieu. I'm the acting team
18 leader in the Division of Risk Management at the FDA.
19 I will now discuss the risk management for Hydexor.
20 During this presentation, I will provide a brief
21 overview of the regulatory authority for risk,
22 evaluation, and mitigation strategy, also known as

1 REMS. I will briefly review the safety issues of
2 Hydrexor, which you have heard about in detail earlier.
3 Finally, I will discuss the current risk management
4 proposal.

5 First, I will discuss the REMS overview. A
6 REMS is a drug safety program that can be required by
7 the FDA for certain drugs. A REMS is designed to
8 mitigate serious risks associated with the drug. It
9 includes strategies beyond labeling to ensure the
10 benefits outweigh the risks of the drug.

11 The FDA Amendments Act of 2007 gave the FDA
12 authorization to require applicants and application
13 holders to develop and comply with REMS programs if it
14 is determined necessary. The FDA has the authority to
15 require a REMS pre- or post-approval. If the FDA
16 determines a REMS is necessary, the REMS components can
17 include a medication guide or a patient package insert;
18 a communication plan for healthcare providers; certain
19 packaging and safe disposal technologies for drugs that
20 pose a serious risk of abuse or overdose; elements to
21 assure safe use, which may restrict distribution; and
22 an implementation system. REMS must include a

1 timetable for submission of assessments.

2 If elements to assure safe use is determined
3 as a necessary component of REMS, the elements to
4 assure safe use can include the following:
5 certification and/or specialized training of the
6 healthcare providers who prescribe the drug;
7 certification of pharmacies, practitioners, or
8 healthcare settings that dispense the drug; limited
9 settings for dispensing or administration of the drug
10 such as a hospital setting; having each patient using
11 the drug be subject to certain monitoring; drug is
12 dispensed/administered only with evidence of safe-use
13 conditions, for example, a pregnancy test or enrollment
14 of treated patients in a registry.

15 These elements may be used in combination to
16 create a specific risk mitigation program.
17 Additionally, elements to assure safe use must align
18 with the specific serious risks listed in the labeling.
19 They cannot cause undue burden on patient access to the
20 drug, considering in particular patients with serious
21 or life-threatening diseases or conditions and patients
22 who have difficulty accessing health care.

1 Now I will provide a summary of the risk
2 management issues raised during the advisory committee
3 on February 14, 2018. At the time of this advisory
4 committee, the applicants proposed indication was for
5 short-term management of acute pain severe enough to
6 require an opioid analgesic while preventing and
7 reducing opioid-induced nausea and vomiting.

8 The treatment would be less than 14 days with
9 the dosing schedule of 1 tablet every 4 to 6 hours as
10 the maximum daily dosage. The use of this product
11 would have included both inpatient and outpatient
12 treatment of pain and prevention of opioid-induced
13 nausea and vomiting.

14 Hydexor was proposed to join the Opioid
15 Analgesic REMS, also known as the OA REMS. The OA REMS
16 is required for products intended for opioid analgesics
17 used in the outpatient setting and not covered by
18 another REMS. The OA REMS is an educational effort and
19 one of a number of national efforts that are designed
20 to address the epidemic of prescription opioid abuse by
21 educating prescribers and other healthcare providers on
22 the treatment and monitoring of patients with pain.

1 The applicant also proposed packaging that
2 would contain product for 3, 5, or 7 days of therapy
3 that would be in child-resistant blister packs and an
4 opioid buyback program, which would allow patients to
5 return unused tablets for disposal. Collectively, the
6 applicant believed that these strategies would reduce
7 the number of unused tablets available for abuse,
8 misuse, and diversion.

9 Members at the advisory committee expressed
10 concerns about the intended use of Hydexor in a broad
11 patient population and the duration of use of up to
12 14 days since the patients studied in the clinical
13 trials were postoperative. Despite this being an
14 enriched population, not every patient experiences
15 opioid-induced nausea and vomiting.

16 The members were also concerned about the lack
17 of dosing flexibility with a fixed-dose combination
18 formulation that would expose patients to unnecessary
19 side effects of promethazine when it is not needed; for
20 example, a patient may need pain medicine but not an
21 antiemetic.

22 There were also concerns about the lack of

1 data on the risk of sedation and drop in blood pressure
2 in the elderly population and patients age 65 and older
3 and the proposed drug packaging that may encourage
4 patients to finish the package when Hydexor should only
5 be used as needed. Furthermore, there were limited
6 details on the proposed buyback program implementation.
7 Most committee members did not believe that the
8 applicant's proposed risk management strategies for
9 Hydexor were adequate.

10 After the advisory committee, the agency
11 communicated to the applicant in the second complete
12 response letter the need to better identify the patient
13 population that would require concomitant therapy with
14 an opioid and a pre-emptive antiemetic for
15 opioid-induced nausea and vomiting, as the fixed-dose
16 combination would expose many patients to additional
17 risk with no added benefit.

18 The agency also had concerns about the risk of
19 CNS depression, particularly with the combined use of
20 an opioid analgesic and promethazine, and that the risk
21 of excessive sedation may result in falls or other
22 accidents. Since Hydexor includes an opioid, there is

1 also the risk of life-threatening respiratory
2 depression, addiction, abuse, and misuse.

3 This then now leads us to the applicant's
4 current risk management proposal. This table is the
5 summary of how the applicant has addressed some of the
6 AC's concern with the current submission. Initially,
7 the applicant had proposed the use of Hydexor in a
8 broad patient population with a duration of use of up
9 to 14 days.

10 With this submission, the patient population
11 would be narrower since it would be indicated for
12 patients experiencing acute postoperative pain severe
13 enough to require an opioid analgesic for a maximum of
14 3 days who are at high risk for nausea and vomiting.

15 There was a lack of data on the risk of
16 sedation and drop in blood pressure in the elderly
17 population. To address this concern, the applicant is
18 proposing a limitation of use to state that Hydexor
19 should only be used when non-sedating alternatives are
20 either not tolerated or ineffective. Additionally,
21 Hydexor should not be used in skilled nursing
22 facilities, as patients are likely to be older and more

1 at risk for respiratory depression and falls due to
2 excessive sedation.

3 The other previous concerns include the drug
4 packaging for 3, 5, or 7 days of therapy and the drug
5 buyback program. The applicant is no longer proposing
6 this packaging or the drug buyback program, as Hydexor
7 will only be used in certified medically-supervised
8 settings such as hospitals and surgical centers and not
9 for use in a home setting.

10 The applicant proposed a REMS to ensure the
11 benefits of the drug outweighed the risks. As
12 mentioned previously, elements to assure safe use must
13 align with a specific serious risk listed in the
14 labeling. Therefore, the proposed REMS goal is to
15 mitigate the risk of life-threatening respiratory
16 depression and the risk of falls or other accidents
17 resulting from excessive sedation by ensuring that
18 Hydexor is dispensed only to patients in certified
19 medically-supervised healthcare settings.

20 The REMS components include elements to assure
21 safe use; implementation system; and a timetable for
22 submission of assessment reports. The elements to

1 assure safe use would require healthcare settings that
2 dispense the drug to be certified. This REMS would
3 also limit administration only to certified medically-
4 supervised settings such as hospitals and surgical
5 centers.

6 The certified healthcare settings that
7 dispense Hydexor must establish policies and procedures
8 to manage acute opioid overdose, including
9 life-threatening respiratory depression; have fall
10 precaution protocols on site; discontinue Hydexor after
11 3 days of use; and verify that Hydexor is not dispensed
12 for use outside of the certified healthcare setting.

13 The current assessment plan for this REMS is
14 under review, however, the assessment plan may include
15 metrics to capture data on REMS implementation and
16 operations; REMS enrollment and utilization; REMS
17 infrastructure and performance; compliance and audit;
18 and surveillance data on adverse events of special
19 interest, more specifically the risk this REMS is
20 intended to mitigate, which is the risk of respiratory
21 depression and the risk of falls or other accidents
22 resulting from excessive sedation.

1 If Hydexor is approved, the FDA believes that
2 the proposed REMS will address the safety concerns
3 raised previously by the AC by ensuring that patients
4 are only administered Hydexor in a certified medically-
5 supervised. Hydexor will be administered only for a
6 limited time where patients can be monitored for
7 respiratory depression and the risk of falls or other
8 accidents resulting from excessive sedation.

9 By limiting Hydexor to postoperative use,
10 specifically in hospitals and surgical centers, the
11 risk of abuse, misuse, and addiction would be mitigated
12 in outpatient settings since patients would not have
13 access to tablets in their home. Additionally, because
14 this is in a medically-supervised setting, prescribers
15 can determine if Hydexor should be discontinued due to
16 intolerance of side effects or changes in a patient's
17 analgesic and antiemetic needs.

18 Finally, the applicant will be required to
19 submit REMS assessment reports to determine the
20 effectiveness of the REMS to ensure that Hydexor is
21 only used in healthcare settings that are certified in
22 the REMS. This concludes the FDA presentation. I will

1 now turn it over to the chairperson.

2 **Clarifying Questions**

3 DR. BATEMAN: Thank you.

4 We will now take clarifying questions for FDA.
5 Please use the raised-hand icon to indicate that you
6 have a question and remember to clear the icon after
7 you have asked your question. When acknowledged,
8 please remember to state your name for the record
9 before you speak and to direct your question to a
10 specific presenter if you can.

11 If you wish for a specific slide to be
12 displayed, please let us know the slide number if
13 possible. Finally, it will be helpful to acknowledge
14 the end of your question with a thank you, and the end
15 of your follow-up question with, "That's all for my
16 questions," so we can move on to the next panel member.

17 We have about 10 minutes scheduled for
18 clarifying questions, so if I could ask everyone to
19 just have one-part questions. Please don't ask
20 multiple-part questions at this point.

21 Dr. Hincapie-Castillo?

22 DR. HINCAPIE-CASTILLO: Hi. This is

1 Dr. Hincapie-Castillo from UF College of Pharmacy, and
2 this question is for Dr. Roca. In slide A, you
3 mentioned that the approval would be accompanied by a
4 postmarketing observational study to further assess
5 safety. Can you please comment whether this would be
6 optional or required, and what would be some of the
7 outcomes that would be in this postmarketing study?
8 Thank you.

9 DR. ROCA: Hi. This is Dr. Roca. I do
10 believe that the slide you're referring to was what
11 they had originally proposed. This was something that
12 the applicant had proposed earlier on. So my
13 understanding is that that is not on the table right
14 now, but we can certainly ask the applicant later on.
15 But the slide that you're referring to was what was
16 originally proposed.

17 DR. HINCAPIE-CASTILLO: Thank you. That is
18 all for my question.

19 DR. BATEMAN: Dr. Nelson?

20 DR. NELSON: Yes. Hi. It's Lewis Nelson from
21 Rutgers. I guess this question is for Dr. Tieu. Given
22 that we've seen some even fairly ironclad REMS have

1 workarounds applied to them, such as the TIRF REMS for
2 transmucosal products, which were originally meant for
3 breakthrough cancer pain, get utilized for chronic
4 pain.

5 In one of your slides you talked about the
6 sponsor requiring some assurance that the pills will
7 not be given to the patient, otherwise dispensed
8 outside of a care setting. What is the mechanism will
9 you be able to monitor that? I guess the sponsor might
10 want to clarify, when they have a moment, how they plan
11 to do that if you don't know. Thank you.

12 DR. TIEU: Hi. This is Dr. Tieu. I'm going
13 to turn this over to our division director,
14 Dr. LaCivita, to comment on your question.

15 DR. LaCIVITA: Hi. This is Cynthia LaCivita
16 with the FDA. In preparing for this AC, I did go back
17 and look at a number of the more recent REMS reports,
18 assessment reports for REMS that include elements to
19 assure safe use, this particular element that the drug
20 can only be dispensed in certain healthcare settings.

21 These REMS of course cover products that have
22 various formulations and different indications, so some

1 do require -- some may slightly differ. But based on
2 these reports received, and the ones that the agency
3 has completed our reviews on, limiting use to certain
4 healthcare settings is working as intended by
5 restricting the use to only the REMS in the certified
6 healthcare settings.

7 In addition to the data submitted often by the
8 sponsors, the agency also looks at other data sources
9 to see if the REMS are being dispensed outside,
10 outpatient settings. For most programs that are
11 similar to the Hydexor REMS, the databases have not
12 detected outpatient dispensing such as in retail
13 pharmacies or other long-term care settings.

14 We also look at other databases to detect
15 accidental exposure, misuse, and abuse, and although
16 there are some substantial limitations with these
17 databases in identifying specific products involved in
18 these events, at this time we haven't identified
19 concerns for products under the REMS that are most
20 similar to Hydexor. The TIRF REMS is somewhat
21 different. This is really restricting it to certified
22 healthcare settings, and more than likely we would

1 follow the same thing for Hydexor. I hope that answers
2 your question. I'm done.

3 DR. NELSON: Thank you. Yes.

4 DR. BATEMAN: Dr. McCann, we see that you have
5 your hand raised but you're not connected to audio So
6 if you can recall into the meeting, that would be
7 great.

8 Dr. Zaafran?

9 DR. ZAAFRAN: Yes. Thank you. One of the
10 questions I had for the FDA, when looking at the
11 medication using the REMS, has anybody evaluated or
12 looked at the cost, the additional cost, of providing
13 care to a patient under these conditions for something
14 that is fairly basic if given individually? Thank you.
15 That's my question.

16 DR. LaCIVITA: Hi. This is Cynthia LaCivita.
17 I'm with the FDA. We have not looked at the costs
18 associated with implementing such a REMS. We look at
19 the safety issues that are concerning the REMS or
20 concerning the risks associated with the product.

21 DR. BATEMAN: Okay. We'll go back to
22 Dr. McCann.

1 DR. McCANN: Hi. My question originally was
2 for the sponsor but maybe the FDA could also answer it.
3 I guess this is not supposed to be used in skilled
4 nursing facilities, but a lot of rehab hospitals are
5 also part of skilled nursing facilities. So is there a
6 possibility that this drug would be used in the rehab
7 hospital portion of the skilled nursing facility?
8 Thank you.

9 DR. ROCA: Hi. This is Dr. Roca.

10 Dr. Bateman, I think that actually is a
11 question more for the sponsor, but I don't know if you
12 want them to answer now or wait until later on. I'll
13 leave it up to you.

14 DR. BATEMAN: Why don't we wait until after
15 the open public hearing?

16 DR. McCANN: Alright. Thank you.

17 DR. BATEMAN: So we'll move on to Dr. Sprintz.

18 DR. SPRINTZ: Hi. This is Dr. Michael
19 Sprintz, and I have a question. I think it would be
20 either for Dr. Tieu or Dr. LaCivita regarding slide
21 number 30. The question that I have is you have the
22 proposed labeling indicated only for management in a

1 certified facility, but there are so many times where
2 you have ambulatory surgery centers, which are
3 technically certified and then the patients are
4 discharged.

5 How does this REMS prevent the dispensing
6 either from a hospital pharmacy to a patient upon
7 discharge or from a nurse to a post-op patient upon
8 discharge in which they're going to be under the
9 influence but on their way home? How are they going to
10 enforce that? Because I noticed that the labeling did
11 not -- at least as it's written in the first
12 upper-right box doesn't state specifically for
13 inpatient only. So that's my question.

14 DR. LaCIVITA: Hi. This is Cynthia LaCivita
15 with the FDA. I apologize. It takes me a second or
16 two to get off mute sometimes.

17 Typically, what we do for these type of REMS,
18 we look at issues of non-compliance. Of course for the
19 certified healthcare settings you'll have to have an
20 authorized representative that would ensure that the
21 policies and procedures are in effect and being
22 followed within their institution, but program

1 compliance is typically measured through the adherence
2 to the REMS requirements and also through audits.

3 Non-compliance has also been detected through
4 call centers. Sometimes non-compliance is
5 self-reported by the site, as the site realizes that
6 they've made an error. The audits will be one way for
7 us to follow this, and certainly if there are issues
8 with non-compliance, there is a corrective action and
9 preventive plan, or a CAPA, that is implemented. These
10 are intended to eliminate further reoccurrence of such
11 non-compliance. The remedial actions typically vary
12 anywhere from re-education to deactivation of a site.

13 DR. SPRINTZ: So it's not the sponsor's
14 responsibility in any way to do that, correct?

15 DR. LaCIVITA: That is correct. When the
16 authorized representative agrees to the conditions of
17 the REMS, then that is their responsibility to ensure
18 that occurs.

19 DR. SPRINTZ: I see. Alright. Thank you.

20 DR. BATEMAN: This is Brian Bateman. I have a
21 question for Dr. LaCivita. I'm wondering about this
22 component of the label should only be used when

1 non-sedating alternatives are either not tolerated or
2 ineffective. Would that be a component of the REMS
3 that was enforced, and if so, how do you envision that
4 being enforced?

5 DR. LaCIVITA: This is Cynthia LaCivita again
6 from the FDA. The REMS is going to mitigate the risk
7 of life-threatening respiratory depression and risk of
8 falls or other accidents that occur due to excessive
9 sedation. The clinical decision to use the product is
10 going to have to be made at the medical level, but once
11 the product is being used, we want to mitigate the
12 risks of the respiratory depression and falls or other
13 accidents from excessive sedation. So that would
14 require the fall precautions to have the ability to
15 manage acute overdose and so on.

16 Does that answer your question, sir?

17 DR. BATEMAN: Yes, I think so. So this would
18 be a recommendation in the label, but ensuring that it
19 is only used when alternatives are not tolerated or
20 ineffective wouldn't be a component of the REMS per se.

21 DR. LaCIVITA: Right.

22 DR. BATEMAN: Okay.

1 Dr. Nelson, you still have your hand up. Do
2 you have a second question?

3 DR. NELSON: No. I'm sorry. I didn't put it
4 down.

5 DR. BATEMAN: I think we will now take a
6 10-minute break. Panel members, please remember there
7 should be no chatting or discussion of the meeting
8 topics with other panel members during the break.
9 We'll reconvene at 11:10.

10 (Whereupon, at 11:01 a.m., a recess was
11 taken.)

12 **Open Public Hearing**

13 DR. BATEMAN: We will now begin the open
14 public hearing session.

15 Both the FDA and the public believe in a
16 transparent process for information gathering and
17 decision making. To ensure such transparency at the
18 open public hearing session of the advisory committee,
19 FDA believes that it is important to understand the
20 context of an individual's presentation.

21 For this reason, FDA encourages you, the open
22 public hearing speaker, at the beginning of your

1 written or oral statement to advise the committee of
2 any financial relationship that you may have with the
3 sponsor, its product, and if known, its direct
4 competitors. For example, this financial information
5 may include the sponsor's payment of your travel,
6 lodging, or other expenses in connection with your
7 participation in the meeting.

8 Likewise, FDA encourages you at the beginning
9 of your statement to advise the committee if you do not
10 have any such financial relationships. If you choose
11 not to address this issue of financial relationships at
12 the beginning of your statement, it will not preclude
13 you from speaking.

14 The FDA and this committee place great
15 importance in the open public hearing process. The
16 insights and comments provided can help the agency and
17 this committee in their consideration of the issue
18 before them. That said, in many instances and for many
19 topics, there will be a variety of opinions. One of
20 our goals for today is for this open public hearing to
21 be conducted in a fair and open way, where every
22 participant is listened to carefully and treated with

1 dignity, courtesy, and respect. Therefore, please
2 speak only when recognized by the chairperson. Thank
3 you for your cooperation.

4 Speaker number 1, your audio is connected now.
5 Will speaker number 1 begin and introduce yourself?
6 Please state your name and any organization that you're
7 representing for the record.

8 DR. ZUCKERMAN: Thank you. I'm Dr. Diana
9 Zuckerman, president of the National Center for Health
10 Research. Our nonprofit center scrutinizes the safety
11 and effectiveness of medical products, and we don't
12 accept funding from companies that make those products.

13 My expertise is based on postdoctoral training
14 in epidemiology and as a former faculty member and
15 researcher at Vassar, Yale, and Harvard. I've also
16 worked at HHS, the U.S. Congress, and the White House,
17 and I'm on the board of the Alliance for a Stronger
18 FDA, which lobbies for more appropriations for the FDA.

19 I'm going to focus today on two issues, risks
20 versus benefits and REMS. FDA requires evidence that
21 drugs are safe and effective, defined as having
22 benefits that outweigh the risks for most patients.

1 And for all opioids, REMS are crucial to reduce the
2 known risks.

3 Nausea is terrible and Hydexor reduces it.
4 But Hydexor's efficacy for pain, as I understand it,
5 was compared to placebo, not to less risky pain
6 treatments. Yet, research has shown that non-opioid
7 pain meds can be as effective as opioids in some
8 settings.

9 The REMS proposed today are very impressive.
10 FDA has depended on REMS to reduce the risks of
11 opioids, but unfortunately those REMS have been
12 ineffective. Just a month ago, the inspector general
13 of HHS released a scathing report about this, but FDA
14 had previously criticized opioid REMS four years ago.

15 The IG report focused on whether FDA held
16 sponsors accountable for evaluating REMS and whether
17 there was evidence that the REMS for opioids were
18 effective. The IG report criticized the sponsors and
19 the FDA for the lack of data on whether REMS truly
20 worked, but two of their findings seem especially
21 relevant to this meeting. Quote, "Some opioid
22 manufacturers engaged in deceptive marketing practices

1 that undermined the REMS educational messages regarding
2 risks," and quote, "FDA has limited authority to
3 enforce manufacturers' compliance with their REMS."

4 I'm going to briefly show results from an
5 evaluation of REMS that FDA provided to this advisory
6 committee in 2016. FDA reported that the analysis of
7 an online REMS training program to ensure correct
8 prescribing of opioids showed 48 percent of physicians
9 who took the training said they didn't change their
10 prescribing habits; only 49 percent of the physicians
11 used the patient counseling document that FDA had put
12 together; and most physicians didn't know about REMS
13 training.

14 The fundamental issue for me is whether
15 sponsors have an incentive to follow through on their
16 REMS commitment, and this is true for all sponsors,
17 because let's face it, better training will reduce
18 prescriptions, alternative pain management therapies
19 will reduce prescriptions, and a 2017 Boston Medical
20 Center study found that the FDA blueprint for
21 prescriber education failed to provide prescribers with
22 adequate information.

1 Most physicians didn't take the training, but
2 what about those that did? My next four slides are pie
3 charts that will show some key information that
4 physicians were supposed to learn. The first pie
5 chart -- thank you -- is up, and it shows that 1 in 3
6 physicians did not learn how to assess patients for
7 opioid treatment.

8 This slide shows that 83 percent of the
9 physicians taking the course did not learn what was
10 supposed to be taught about initiating, modifying, or
11 terminating opioid treatment; this slide shows that
12 one-third did not learn general drug information about
13 opioids; and this last pie chart shows that two-thirds
14 did not learn specific product information that they
15 were supposed to have been taught.

16 In summary, there's clear evidence that opioid
17 REMS have not worked in the past, and you've heard
18 about some of the concerns about them already today.
19 So I'm encouraging you to encourage the FDA to not
20 approve an opioid that has not even been studied for
21 efficacy compared to non-opioid pain meds, with clear
22 concerns about whether the REMS, which as I said, are

1 very impressive as they've been proposed, but no clear
2 evidence that they can be enforced. Thanks very much.

3 DR. BATEMAN: Thank you.

4 Speaker number 2, your audio is connected now.
5 Will speaker number 2 begin and introduce yourself?
6 Please state your name and any organization you are
7 representing for the record.

8 DR. COLLIGNON: Good morning. My name is
9 Dr. William Collignon. I'm a private practice general
10 surgeon and have been in practice for over 35 years. I
11 have no financial interest in Hydexor, nor am I being
12 paid for my comments by any organization or sponsor.

13 I've mentioned I've been in practice for over
14 35 years, and my surgical experience includes basically
15 all types of general surgery, including breast surgery,
16 most abdominal surgeries such as cholecystectomies,
17 colon resections, et cetera, as well as trauma such as
18 motor vehicle accidents and gunshot wounds. As such, I
19 have extensive experience in postoperative acute pain
20 management.

21 I have used the medications that are included
22 in Hydexor in combination for years in the hospital

1 setting and have found them to be very effective.
2 People not only have pain, but they also have nausea,
3 and we practicing physicians often use a combination of
4 medications such as Demerol and Phenergan or
5 hydrocodone and Phenergan for acute postoperative pain.
6 I agree that transition to non-steroidals, et cetera,
7 to prevent opioid addiction is very important, and I
8 try to do that, including regional blocks, et cetera,
9 when we can do that.

10 But having hospital settings write a couple of
11 different medications to control pain and nausea
12 increases the workload on the hospital staff. It often
13 causes duplicate orders, and I'm concerned at times for
14 errors being generated this way. It seems to me that a
15 combination medication where one script would work fine
16 would cut down on this and also achieve treatment for
17 both pain and nausea in the acute care setting.

18 Hydexor would seem to be very beneficial, to
19 me, for my patients to treat both conditions at the
20 same time. I appreciate being allowed to comment.

21 DR. BATEMAN: Thank you.

22 Speaker number 3, your audio is connected now.

1 Will speaker number 3 begin and introduce yourself?

2 Please state your name and any organization you're
3 representing for the record.

4 MS. PARKS: Good morning. My name is Gina
5 Porcelli Parks. I'm a licensed nurse practitioner, and
6 I've been providing health care to women of all ages
7 since 1989 in the specialties of obstetrics and
8 gynecology. I would like to thank the FDA and the
9 advisory committee for allowing me the opportunity to
10 share my evidence on nausea and vomiting as not only a
11 healthcare provider, but more importantly a recent
12 breast cancer survivor.

13 For full disclosure, I don't have any
14 financial interest in the outcome of this meeting.
15 I've not been paid by any organization or sponsor for
16 my time, thoughts, or my real-world evidence related to
17 nausea and vomiting for this critical public meeting
18 and its potential effect on the approval of the novel,
19 first-in-class combination product by the brand name
20 Hydexor.

21 As many of you are aware, women are at a high
22 risk for nausea as outlined in the Fourth Consensus

1 Guidelines for the Management of Post-Operative Nausea
2 and Vomiting. For the last 31 years, I have cared for
3 patients who have undergone a multitude of surgical
4 procedures, including cesarean sections,
5 hysterectomies, myomectomies, oophorectomies, and a
6 host of vaginal and urologic procedures, including
7 eight years working with gynecologic oncology patients
8 with advanced cancer.

9 They say that experience is the best teacher
10 in life, and little did I know that this statement
11 would come full circle for me later in my career. As a
12 licensed nurse practitioner, I have advanced skills
13 that allow me to diagnose conditions, prescribe
14 medications, and manage patients' overall care. I have
15 hospital privileges, and I'm in the hospital about 5 to
16 10 days a month.

17 My time in the hospital is split between
18 managing patients' overall care who are recovering from
19 surgery and often as a surgical assistant on more
20 difficult procedures. Mostly all my patients require
21 pain medications following these procedures, which lead
22 to our most common complaint of nausea and vomiting.

1 We have standing orders for antiemetics and use
2 promethazine quite regularly. I get complaints of
3 nausea with the majority of patients, probably about
4 70-80 percent, who undergo these very difficult
5 procedures.

6 As the times have changed, the length of
7 hospital stay has also changed, and many surgical
8 procedures have decreased their hospital stay from
9 about 5 days to possibly 2 days or less, and in some
10 cases people are going home as outpatients from
11 hysterectomies. This change has increased how hard
12 hospital staff must work to control nausea and vomiting
13 so patients are able to rest and recover. Patients
14 rest and recovery are the number one goal during this
15 critical period so acute pain doesn't turn into chronic
16 pain.

17 Nausea can often lead patients to retch.
18 Retching, which is an unpleasant sensation of vomiting
19 without vomiting, can become more serious by causing
20 dehydration and potentially damage to recent surgically
21 repaired tissue and organs. In addition, nausea and
22 vomiting also affect patients' ability to rehab, and

1 often if they're able to be discharged.

2 When patients remain stationary as a result of
3 nausea, things such as blood clots and pneumonia can
4 adversely affect the patient's recovery. Simply put,
5 nausea is a major problem in both the inpatient and
6 outpatient setting that for some reason is so commonly
7 overlooked until it happens to you or someone close to
8 you. I believe there's been a desensitization to just
9 deal with nausea in these settings because it's such a
10 common event. It almost seems like we've adopted the,
11 "Oh, well, if it doesn't kill you" perspective.

12 I began to follow Hydexor's story when the
13 company relocated to their corporate headquarters in
14 Jupiter, Florida about six years ago and became
15 immediately intrigued because they were working on
16 something novel and new for patients who suffered from
17 opioid-induced nausea and vomiting. I'm not aware of
18 any other company who has focused on this unmet need
19 for patients.

20 Hydexor is a step in the right direction for a
21 significant population of nausea patients who not only
22 suffer from postoperative nausea from the surgery or

1 anesthesia prior to oral ingestion of an opioid, but
2 also a useful option for those patients who have
3 transitioned to recovery to prevent the nausea from
4 occurring. I am passionate in the belief that patients
5 should not have to prove their need for an antiemetic
6 when we know it's an expected part of taking
7 hydrocodone or any other similar product.

8 As I mentioned earlier in my presentation that
9 experience is the best teacher, in February 2020, I was
10 diagnosed with triple negative stage 3 metastatic
11 breast cancer. My horrible bouts with nausea were a
12 direct intersection with every single one of my
13 patients' complaints of nausea. While bravely fighting
14 this horrible disease, it put nausea and vomiting
15 squarely back in front of me, not as a healthcare
16 provider, but now as a patient.

17 DR. BATEMAN: If I could ask you to wrap up
18 your comments. The time's expired.

19 MS. PARKS: While resting at my bedside
20 following my bilateral mastectomy and axillary
21 dissection, nausea was the last thing I wanted to deal
22 with while trying to be brave, strong, and composed to

1 beating this nasty beast of a disease. During that
2 time at the hospital and as an outpatient, I reflected
3 on how debilitating nausea is and remains, given
4 healthcare providers best attempts. There are times
5 that providers have to manage the symptoms of nausea
6 and vomiting, but nausea can also be something that
7 could be controlled, and more importantly, prevented.

8 In closing, I want to commend all the
9 committee members and acknowledge that you're all in a
10 very difficult position to be voting on, not just
11 another opioid, but a new opioid combination that
12 serves as the first for patients who suffer from
13 opioid-induced nausea and vomiting. Thank you --

14 DR. BATEMAN: Thank you for your comment.

15 MS. PARKS: Um-hmm.

16 DR. BATEMAN: Speaker number 4, your audio is
17 connected now. Will speaker number 4 begin and
18 introduce yourself? Please state your name and any
19 organization you're representing for the record.

20 MS. MCKINNEY: Good morning. My name is
21 Deandra McKinney. I would like to thank the advisory
22 committee and the staff at the FDA for allowing me to

1 have a voice today that supports innovation for
2 opioid-induced nausea and vomiting at this public
3 hearing. I have no financial interest in the results
4 of today's meeting, nor have I been paid by any company
5 or organization to speak at today's open public hearing
6 related to Hydexor.

7 I am a proud military veteran having served in
8 the United States Army for four years. I currently
9 hold a master's degree in criminal justice and a PhD
10 pending in justice administration and leadership with
11 Capella University. I am currently employed as the
12 principal and academic manager for Horizon Youth
13 Services, a contractor for the Department of Labor in
14 Simpsonville, Kentucky. Whitney M. Young Job Corps is
15 a trade school that offers high school diploma
16 attainment as well as certifications in various trades
17 such as welding; brick; cement; HVAC; CNA; pharmacy
18 tech; certified medical assistant; and culinary arts.

19 In the past, I have worked at the University
20 of Louisville, the adult psychiatric unit, as a
21 licensed practical nurse. I became interested in
22 Charleston's drug CL-108, now known as Hydexor, a few

1 years ago while doing research on new medical
2 treatments or advancements pertaining to nausea and
3 vomiting.

4 Nausea is a common symptom of many disorders
5 that I have dealt with as a patient while working as a
6 licensed practical nurse, as well as overseeing the
7 healthcare certifications we offer here at Job Corps.
8 I was disappointed that Hydexor wasn't approved in 2018
9 and had always hoped that the company and others would
10 continue their quest to innovate this underserved and
11 unmet patient need.

12 I was diagnosed with gestational diabetes
13 during my pregnancy in 1990, which resulted in
14 full-blown diabetes soon after. After many years of
15 managing diabetes through diet and exercise, oral
16 medication, and then insulin, my kidneys failed in
17 2010. I was devastated because I had so much life to
18 live and so many things that I wanted to accomplish. I
19 was immediately placed on dialysis and I received that
20 treatment modality for seven years. But by the grace
21 of God, I was not only placed on a kidney transplant
22 list, but also a pancreas transplant list that I

1 ultimately received in 2017.

2 I know the majority of the healthcare
3 professionals and experts in this room understand the
4 rigor involved in the workup leading to a transplant,
5 but for those who do not, let me tell you, it is very
6 intensive. During the screening process, it was
7 discovered that I had a blockage in my heart, and in
8 order to even continue to be considered for the
9 kidney-pancreas transplant, I had to undergo open-heart
10 bypass surgery to repair it.

11 All surgeries are tough on patients when it
12 comes to postoperative pain control, but open-heart
13 surgery is one of the most difficult ones to recover
14 from, at least it was for me. I was in excruciating
15 pain, and this began a time period where I was faced
16 with nausea and vomiting regularly as a result of my
17 surgeries and pain treatment.

18 As a heart bypass post-op patient, I was
19 started on IV morphine. My doctors tried their best to
20 treat my post-op nausea and ultimately control it with
21 IV promethazine. As soon as I was moved to oral
22 hydrocodone, it caused me to become even more

1 nauseated, and I would throw up uncontrollably.
2 Because nausea had set in, I was unable to keep oral
3 antiemetics down. I believe I would be a patient that
4 a physician would deem a high risk for nausea, and
5 Hydexor would have replaced my oral hydrocodone while
6 in the hospital.

7 I am proud to be in front of you today as a
8 double-organ transplant survivor. I was able to meet
9 all the pre-transplant medical requirements such as my
10 open-heart surgery. I experienced the same problems
11 with nausea and vomiting during my post-op recovery
12 following my kidney-pancreas transplant.

13 I want to also mention to the committee that
14 my nausea continued with almost every dose of pain
15 medication through the duration of my recovery. I
16 don't recall if anyone has yet to discuss the
17 unpleasant or unpopular topic of antiemetic
18 suppositories, but they are quite common, and I've used
19 them because I was unable to prevent nausea and
20 vomiting.

21 Before I close my speech today, I have read
22 many articles, reports, and patients' testimonies in

1 regard to the opioid healthcare crisis, and one really
2 struck a chord with me because it made me realize how
3 difficult this committee's job is. In summary, this
4 patient speaks on 35 years of dealing with chronic
5 pain. The new regulations have altered their pain
6 control that leave many patients like them with real
7 unmet medical needs on the outside looking in.

8 Prior to this meeting, I have tried to put
9 myself in the committee's shoes of being asked to vote
10 on a new opioid. Hydexor is an opiate that has not
11 contributed to the crisis, and I believe it should be
12 looked at as replacing hydrocodone products for those
13 patients whose physician in the hospital feels they are
14 high risk for nausea and vomiting.

15 DR. BATEMAN: Can I ask you to wrap up,
16 please, the last comment?

17 MS. MCKINNEY: Yes.

18 DR. BATEMAN: Thank you.

19 MS. MCKINNEY: I believe Hydexor is a step in
20 the right direction for all patients who suffer from
21 opioid-induced nausea and vomiting. Thank you for your
22 time and consideration.

1 DR. BATEMAN: Alright. Thank you for your
2 comments.

3 Speaker number 5, your audio is connected now.
4 Will speaker number 5 begin and introduce yourself?
5 Please state your name and any organization you are
6 representing for the record.

7 DR. WOLFE: I'm Dr. Sidney Wolfe, the health
8 research group of Public Citizen, and I have no
9 financial conflicts of interest. Today's main focus is
10 to decide whether previous safety concerns, which were
11 reviewed quite thoroughly I think before, would be
12 adequately addressed through a labeling change and
13 REMS.

14 The second thing I'd like to talk about is the
15 review of safety concerns from February 2018, the
16 advisory committee. I've went through the transcript
17 and looked up certain things that I don't think have
18 been presented today; third, the company response to
19 the 19 to 2 advisory committee vote against Hydexor
20 approval, the same two advisory committees here today,
21 some of whom aren't the same people; and FDA's response
22 to the company's criticism and, briefly, current FDA

1 comments, which Dr. Roca went over before.

2 The question for the vote is, "Would the
3 proposed labeling and a REMS alter the inherent
4 previously documented harm-benefits ratio of Hydexor?"
5 The drug stays the same. The cautions in the form of
6 labeling change and REMS, which I'm concerned about how
7 well they would work, are the only difference.

8 In the review of the safety concerns from the
9 February meeting, most people agree that a fixed-dose
10 combination limits the ability to tailor the dose of
11 the drug based on an individual's needs, thus reducing
12 clinical flexibility. Some noted that the risk of
13 adverse events and unintentional overdose associated
14 with promethazine in the combination product outweigh
15 the little benefit shown in the data.

16 The majority agreed that Hydexor poses greater
17 risk than currently marketed hydrocodone/acetaminophen
18 products. This is just because of the added CNS
19 depression effect of promethazine. Some added that the
20 proposed fixed dose combination includes 7 and a
21 half milligrams of hydrocodone, which is higher than
22 the usual starting dose of the drug for many people.

1 This is from the transcript. Dr. Steve
2 Meisel, who sounds from his question like he's there
3 today, said, quote, "We're asking people to take a drug
4 to prevent the side effect of another drug but in
5 itself is causing more side effects than promethazine.
6 And pretty soon we'll have another drug proposed to us
7 that will mitigate the adverse effects of the second
8 drug, and so on. That's not the way to practice
9 medicine or do business here. That's a very dangerous
10 slope."

11 This is the response by Olas to the advisory
12 committee meeting in their letter. This is quoted by
13 the person in the FDA who denied their appeal to the
14 decision, quote, "The negative vote was largely a
15 result of --" and this is Olas' response -- "a
16 philosophical bias against fixed-dose combinations,"
17 end quote, "and did not constitute --" again what the
18 company said -- "a significant scientific development
19 with selected quotes of committee members who voted
20 against approval."

21 "The quotes you provided --" again, FDA
22 writing to the company -- "could be deceptive and

1 interpreted as a philosophical bias against FTC
2 products or a weighing of benefit-risk with the latter,
3 the risk exceeding the former. Selective highlighting
4 of texts from the transcript can result in different
5 conclusions on the rationale behind a vote.

6 "Finally, a philosophical concern does not
7 exclude the possibility that a vote was based on
8 weighing of the benefit to risk of Hydexor. The two, a
9 philosophical position and a benefit-risk conclusion,
10 are not mutually exclusive."

11 The next slide from the same letter from the
12 FDA to the company, quote, "The negative advisory
13 committee vote clearly shifted the division's position.
14 I do not consider that change in position --" which was
15 described by Dr. Scott earlier -- "to be evidence of
16 the division reneging on agreements laid out at the
17 open advisory committee meeting, but rather a further
18 re-weighing of benefits and risks based on the external
19 expert advice provided by the advisory committee."

20 These are just current comments from the
21 briefing packets for today's meeting, the director's
22 memo, "The applicant did not identify a patient

1 population that predictably requires concomitant
2 therapy within an analgesic and a pre-emptive
3 antiemetic, with every dose to warrant exposure to
4 promethazine."

5 "Although phase 3 clinical trials were
6 enriched to enroll a population at risk for OINV, a
7 substantial number of patients treated in the control,
8 the hydrocodone and the Norco group, did not develop
9 OINV. Can an appropriate population be identified for
10 the safe use of this product? The applicant is
11 redressing this concern through revised labeling and
12 the proposed REMS."

13 "Would the proposed labeling and REMS alter
14 the inherent previously documented harm-benefit ratio
15 of Hydexor?" This is my paraphrasing slightly what the
16 question is to the labeling change. The FDA accurately
17 states, quote -- this is not just today but
18 often -- "We regulate drugs, not doctors." And that's
19 legally and technically correct.

20 "Even if companies do not violate prohibitions
21 on off-label advertising, it is likely, if not certain,
22 that off-label prescribing will occur, as with all

1 opioids and many other drugs."

2 "Given the increased harms of adding
3 promethazine and failure to identify a patient
4 population that predictably requires concomitant
5 therapy with an opioid analgesic and a pre-emptive
6 antiemetic with every dose to warn exposure to
7 promethazine, overuse is guaranteed."

8 The other component beyond the labeling
9 changes, again, the point I was making before, is that
10 off-label prescribing, even with the program that's
11 been mentioned today, is almost guaranteed.

12 The proposed REMS, this optimistic program to
13 mitigate risk has not been successful as evaluated by
14 the FDA for another opioid. During an August 3, 2018
15 meeting of your same two committees, data presented by
16 the FDA revealed the failure of the transmucosal,
17 immediate-release fentanyl, the so-called TIRF REMS,
18 the most rigorous such opioid REMS safety program ever
19 created, at least to date.

20 The TIRF REMS was created to provide safe use
21 of TIRF products by limiting the prescribing of them to
22 breakthrough pain and cancer patients and to ensure

1 that because the inherent risk of these potent drugs,
2 only opioid-tolerant patients would be prescribed these
3 products. Subsequent FDA analysis demonstrated that
4 this REMS mitigation had not been effective. It was
5 being prescribed to a lot of people who didn't have
6 cancer pain, and as you know, it was being prescribed
7 to people who were opioid naive.

8 I think this is worth mentioning.
9 Dr. Nelson's question also mentioning the problems,
10 serious problems, with the TIRF was responded to by the
11 FDA in saying that this drug, Hydexor, would only be
12 prescribed in certified medical settings.

13 This brings up the question about the two
14 clinical trials that were in postoperative dental
15 surgery patients and postoperative bunionectomy. It is
16 unlikely, if those are typical of the kinds of patients
17 that would be prescribed the drug, that they would stay
18 in a certified medical setting, a dentist clinic, which
19 is I suppose a certified medical setting, or an
20 outpatient surgery center.

21 Imagine people with either of those problems
22 staying there for 3 days so that the dispensing was in

1 a certified medical setting. More likely -- and that
2 can be discussed later -- is that the 15 pills would
3 work for 1 day, 5 pills a day, and then the patients
4 would probably not be staying at a dentist's office or
5 an outpatient surgical center for two other days. So
6 they would really be tending in the direction of the
7 same kind of problems that existed with the TIRF, and
8 we would have, again, a serious doubt that the proposed
9 REMS would work, just as the labeling change would not
10 work because of off-label use.

11 It is highly unlikely that previously
12 documented safety concerns can be alleviated by more
13 limited labeling and a REMS program. Secondly, the
14 combination of hydrocodone with another central nervous
15 system depressant, promethazine -- and is why the added
16 drowsiness occurred when promethazine was
17 added -- being prescribed for large numbers of people,
18 who will not get any benefit from promethazine,
19 guarantees a serious risk of harm without any advantage
20 for those patients.

21 I am hopeful that your advisory committee, in
22 coordination with the ever-increasing public health

1 focus of the FDA, will make the right decision. Thank
2 you very much. I appreciate the time to present today.

3 DR. BATEMAN: Thank you.

4 The open public hearing portion of this
5 meeting is now concluded and we will no longer take
6 comments from the audience. The committee will now
7 turn its attention to address the task at hand, the
8 careful consideration of the data before the committee,
9 as well as the public comments.

10 So we have about 15 minutes, before we take up
11 the question, for additional clarifying questions, but
12 before we do that, Dr. LaCivita wanted to provide some
13 clarification on some points raised during the open
14 public hearing.

15 DR. LaCIVITA: Thank you. This is Cynthia
16 LaCivita from the FDA, and thank you for the
17 opportunity for a point of clarification. Although
18 this AC is not intended to discuss other REMS, I just
19 wanted to comment that that OIG report was specific to
20 the opioid analgesic REMS and the TIRF REMS program,
21 and to note that the elements in the REMS are different
22 than what is being proposed for Hydexor.

1 The requirements for these REMS are in the
2 public domain, and the OA REMS makes training available
3 to healthcare providers as a voluntary educational
4 program for healthcare providers, as most of you on the
5 panel are aware of. The approved REMS for the TIRF's
6 elements require training for prescribers and
7 pharmacists. The proposal for Hydexor is using a
8 slightly different element. It's dispensing only in
9 certified healthcare settings. I just want to
10 acknowledge we are aware of the challenges associated
11 with these other programs and we are working to resolve
12 them. Thank you for the opportunity.

13 **Clarifying Questions (continued)**

14 DR. BATEMAN: Thank you.

15 So we'll now go back to clarifying questions
16 for the sponsor. I'd ask everyone on the advisory
17 committee, please just limit your questions to a single
18 question, and if you have a second question, we'll come
19 back around to you if we have adequate time.

20 So a question for the sponsor, Dr. Zacharoff?

21 DR. ZACHAROFF: Hi. This is Kevin Zacharoff
22 from Stony Brook Medicine. My question, I'll limit it

1 to one question for the sponsor. Is there any data
2 that the sponsor has with respect to administration of
3 this medication after the patient has received
4 prophylactic dose of ondansetron? Thank you.

5 MR. SCOTT: Yes, thank you for your question.
6 If we could pull up the PONV-OINV slides.

7 Dr. Schachtel, can you speak to this?

8 DR. SCHACHTEL: Yes. Hi. The direct answer
9 to your question is not that specific treatment to
10 prevent postoperative nausea and vomiting, but we did
11 do something else, which I think is analogous and
12 answers your question nonetheless. What I did was
13 measure nausea and vomiting before the administration
14 of an opioid. These are patients who had non-opioid
15 postoperative nausea and vomiting before they were
16 exposed to an opioid. Let me show you what I mean.

17 If you look at the slide numbers BU-9, if you
18 could pull it, this shows the pre-opioid -- or
19 pre-hydrocodone in this case -- administration. You
20 see in the 002 study, looking at the orange and purple
21 group, you see the incidence of nausea. None of them
22 had vomiting, by the way, before administration of an

1 opioid. Likewise, in the 003 study, both groups were
2 pretty homogeneous in terms of having nausea before
3 administration of an opioid.

4 The next slide, please, these are the
5 remaining patients. These are the people who did not
6 have any nausea before they were administered
7 hydrocodone, and you can see on the left that the
8 Norco-treated patients, there was an incidence of OINV
9 over 3 to 4 hours at 30.8 percent compared to
10 8.6 percent among Hydexor-treated patients.

11 In the 003 study, where the treatment
12 observation period was 48 hours inpatient, the
13 incidence was 41.5 percent compared to 9.8 percent.
14 Obviously, there are clear differences there of
15 clinical importance in terms of reduction and a
16 relative risk. The next slide answers your question, I
17 think, better.

18 Could I have the next one, which is 11, BU-11?
19 Very good. Now, here you see patients who had nausea
20 and then were administered, under double-blind
21 conditions, either the hydrocodone drugs or placebo.
22 The left, you can see the difference of 32.7 percent

1 incidence of OINV versus 14, and of particular note on
2 the right, you can see that the incidence of OINV
3 actually increased among patients on Norco. It's 55.2,
4 and it was 41.5 percent before. The difference from
5 hydrocodone is 17.4, again, another significant
6 relative risk reduction.

7 So I hope this helps. I think that's the
8 genesis, or reason I should say, for your question, and
9 I hope this answers it.

10 George, back to you.

11 MR. SCOTT: Thank you, Dr. Schachtel.

12 Also, Dr. Gan, if you could address this too,
13 what you see in practice and in light. Then I know
14 there was an open question regarding rehab facilities
15 and discharge criteria, et cetera. If you could
16 address that as well.

17 DR. GAN: Sure. To Dr. Zacharoff's question,
18 in clinical practice, where we use ondansetron pretty
19 routinely, obviously it's only typically effective
20 about 30-40 percent of the time. So we do see a lot of
21 patients develop nausea and vomiting following
22 ondansetron prophylaxis.

1 In those situations, we will switch to a
2 different antiemetic from a different class because
3 data have shown that repeat of ondansetron while
4 ondansetron is still on board, at least on the plasma
5 level, has proven not to be effective. So we generally
6 switch to a different class of antiemetics, and the
7 choices are pretty limited.

8 As I mentioned earlier, the dopamine
9 antagonists, histamine antagonists, and muscarinic
10 antagonists, they all have side effects; in particular,
11 sedation. Dopamine antagonists have QT prolongation
12 side effects. So the question, that yes, we do see a
13 lot of patients who failed ondansetron that we have to
14 move to a different class of antiemetics. Hopefully,
15 that answers your question.

16 Earlier I think there was a question about
17 administering in the ambulatory surgery setting and the
18 concern about sedation when these patients receive the
19 combination drug. I would say to that is that we
20 typically monitor patients until the patient is ready
21 to be discharged, and that's including that they are
22 not heavily sedated so that they can ambulate and they

1 can stand up by themselves. Hopefully that will reduce
2 the risk of promethazine-related sedation.

3 Back to you, George. Hopefully that answers
4 your question.

5 DR. BATEMAN: I'm going to move on to the next
6 question just so we can get through as many of these as
7 possible.

8 Dr. McCann?

9 (No response.)

10 DR. BATEMAN: Dr. McCann, you might be on
11 mute.

12 DR. McCANN: Okay. Sorry. I'm off mute. I'm
13 concerned about rehab facilities; for the most part
14 very elderly people that break hips that end up in
15 rehab facilities, and often they are part of skilled
16 nursing facilities as well. Does the sponsor envision
17 that this drug would be used in that particular
18 setting?

19 MR. SCOTT: Thank you for your question. This
20 is not indicated for skilled nursing facilities. And
21 to the extent that they are attached to a certified
22 medical facility, if they were discharged to a skilled

1 nursing facility, they would not be able to get
2 administered Hydexor because of the limitation in the
3 REMS.

4 I just want to clarify with respect to a REMS,
5 we heard a lot about REMS programs. Most of the data
6 that was presented was actually related to class-wide
7 REMS, mostly to outpatient education programs. I think
8 that the FDA has discussed Hydexor REMS is different
9 than that, and here it's about controlling where the
10 manufacturer is authorized to dispense Hydexor, which
11 is only the certified medical healthcare facility, and
12 certification of those facilities have the proper
13 training and fall protocols in place with respect to
14 Hydexor or specifically; and that all the physicians
15 understand when they administer Hydexor, it is limited
16 to the inpatient setting.

17 So if they were going to be discharged to
18 anything other than that certified healthcare facility,
19 which includes nursing facilities, which would not be
20 certified, they could not be dispensed Hydexor.

21 Dr. Gan, clarify anything if there's anything
22 else I'm missing in there.

1 DR. BATEMAN: Okay. I'd like to move on to
2 the next question. Thank you for your response.

3 MR. SCOTT: Okay. Thank you.

4 DR. BATEMAN: Dr. Calis?

5 DR. CALIS: Hi. This is Karim Calis from the
6 NIH. My question is actually with regards to the
7 labeling, and the question has to do with the
8 population. An opioid-naive patient who's never had
9 surgery, kind of in the broader context of the types of
10 patients that you've studied, my question really has to
11 do with how do you implement or operationalize the very
12 narrow indications for use and the limitations of use
13 in a real-world clinical setting? I'm not sure how you
14 operationalize that. Can you elaborate on that,
15 please?

16 MR. SCOTT: Yes. Thank you.

17 Dr. Gan, can you speak to that, please?

18 DR. GAN: Sure. Typically, the scenario may
19 be that the patient who comes to us with high risk for
20 nausea and vomiting -- and there are some risk factors
21 that increases the risk. For example, being female,
22 you are at three times the risk for nausea and vomiting

1 after surgery. Interestingly, a non-smoker increases
2 the risk. A previous history of nausea and vomiting
3 following surgery also is a risk factor. And most
4 importantly, the use of opioid is a significant risk
5 factor.

6 These are what we call high-risk patients. In
7 the postoperative setting, for these patients we
8 typically give prophylactic antiemetics, usually
9 combinations; for example, ondansetron and a
10 combination with steroid such as dexamethasone is very
11 widely used. There are effective. However, there are
12 some patients who still unfortunately develop nausea
13 and vomiting after surgery because of all of these risk
14 factors. So in those contexts or those patients in the
15 postoperative period, when they require an analgesic,
16 again, we try to stay away from opioids and try to give
17 non-opioid analgesics, but we know that sometimes they
18 are not enough, so we need to give opioid analgesics.

19 We know that these patients develop nausea and
20 vomiting because of the opioids, and therefore we would
21 typically give an opioid together with an antiemetic.
22 In this context, we may give promethazine by itself,

1 but unfortunately my hospital only has 25 milligrams,
2 and we know that sedation is dose proportional. So the
3 combination pill with hydrocodone and half the dose of
4 promethazine 12.5 would not only address the pain but
5 also hopefully reduce the risk for nausea and vomiting.

6 I think those are the scenarios I see
7 potentially where this drug would fit. I hope I
8 answered your question.

9 Back to you, George.

10 DR. BATEMAN: Great. Thank you.

11 MR. SCOTT: Thank you, Dr. Gan.

12 DR. BATEMAN: Dr. Sprintz?

13 DR. SPRINTZ: Hi. Thank you very much. My
14 question is for Dr. Scott. It's related to May 19th of
15 2019. In your response to the FDA, you had stated that
16 you were willing to limit the use to postoperative
17 patients in which OINV may be a barrier to wound
18 healing, and now when I look at your current proposal
19 for your indications, you've removed that statement.

20 I'm wondering, why did you offer this more
21 limited, restricted indication, but now coming back?
22 And I noticed that that's happened a couple of times

1 previously where you offer something in discussions
2 with them that's relatively limited, and then when you
3 make your formal submission, it's a broader one, and
4 I'm wondering why that happened this time. Thank you.

5 MR. SCOTT: Yes. Thank you for that question,
6 and the FDA can speak on this as well. All of our
7 proposals, actually, we were putting those forth.
8 Essentially, when the FDA responded, we generally
9 agreed with their proposals. We never actually pulled
10 anything back; it was just we had proposals and what
11 the FDA would agree to.

12 With respect to wound healing, we actually
13 initially agreed with that language, and then after
14 further revisions that we received, that was no longer
15 in there, and we, just as a general basis, agreed with
16 what the agency proposed. I don't know if the agency
17 wants to address this as well, but that is the answer.

18 DR. BATEMAN: Okay. Thank you.

19 Dr. Nelson?

20 DR. NELSON: Thank you. It's Lewis Nelson
21 from Rutgers. I want to go back to the question I had
22 addressed to the FDA and back to the sponsor. They're

1 sort of two related questions, but one of them is
2 really what qualifies as a medically-supervised
3 facility. Dr. Wolfe had brought up dentist, and others
4 have brought up those sorts of facilities.

5 Given even surgery centers don't typically
6 have very highly active and highly functional
7 pharmacies attached to them, it's a little unclear how
8 these medications are going to be used in settings like
9 that, which brings me to the question about if a
10 patient is entitled, so to speak, to 15 tablets over
11 3 days, if those get pulled down for the patient, how
12 do we assure that they don't get distributed to that
13 patient when they leave?

14 I understand that this is well intended to say
15 that the doctor or the nurse, or somebody will give
16 that patient the pill. We do know that human nature is
17 such that there are workarounds to this, and this is
18 where the other REMS that we've talked about had
19 failed, and even well-intended REMS. Things that have
20 very high expectations still have failed in the past;
21 maybe not always, but enough that this does become a
22 bit of a concern. Thank you.

1 MR. SCOTT: Yes. That's to the sponsor,
2 correct?

3 DR. BATEMAN: That's right.

4 MR. SCOTT: Okay. Thank you.

5 Thank you for that. Just to clarify, the
6 patient is not entitled to 15 pills. What we have is a
7 cap at 5 pills per day and a cap at treatment no more
8 than 3 days, and that's just from a review of our
9 safety studies. Hydexor is prescribed as an as-needed
10 basis. As opposed to in our clinical trials where we
11 had forced dosing for the first 24 or 48 hours and we
12 woke up the patient to dose them for clinical purposes,
13 in practice, if they're sleeping, they would just
14 sleep.

15 So once there's a discharge, they can no
16 longer be administered Hydexor. What would occur is
17 when a physician feels it's appropriate to administer
18 Hydexor, only one pill would be retrieved, and that one
19 pill would be administered in front of the healthcare
20 professional. And not until the physician feels that
21 another pill is needed, according to the indication and
22 label limitation, that another pill would be dispensed.

1 So there is no situation where the physician
2 or the patient would have more than that one pill per
3 dose available, and the certification process would
4 work with the FDA, where the healthcare facilities
5 would meet these requirements, and only if they
6 actually have an inpatient facility in the healthcare
7 setting. So typically this wouldn't be a dentist
8 organization.

9 DR. NELSON: Thank you. But a surgical
10 center, which was I think in the list of places that
11 was originally discussed, would qualify because they
12 don't typically have inpatient settings either.

13 MR. SCOTT: Correct. A surgical center could
14 qualify but, again, in order to be certified, they
15 would have to meet the same requirements that a Hydexor
16 REMS requires. The dispense of Hydexor is limited on
17 an as-needed basis; so typically, if you're going to be
18 in the inpatient, after you get off of IV. And then
19 after that, if you're going to go to oral medication,
20 you may have less than 1 day left in your stay. If
21 Hydexor is appropriate, then you would get that for
22 that one needed dosage, and then upon discharge,

1 Hydexor would no longer be available to that patient.

2 DR. NELSON: Okay. Thank you.

3 DR. BATEMAN: Dr. Hernandez-Diaz?

4 DR. HERNANDEZ-DIAZ: Sonia Hernandez-Diaz.

5 This is a follow-up question for Dr. Gan, I believe.

6 Could you please clarify which are the comparative

7 benefits of giving the combination product versus

8 giving the opioid? And then as needed, giving a

9 non-sedative, antiemetic, and it doesn't work, giving

10 the antiemetic as needed for the patient.

11 DR. GAN: Sure. I will be happy to respond to

12 that. The options are either you give hydrocodone and

13 in this case promethazine 12 and half milligram as a

14 combination product, or the alternative is to give

15 hydrocodone and antiemetics as a separate product. So

16 if you would like to give promethazine, the oral dose

17 of promethazine currently available in most hospitals

18 is 25 milligrams.

19 Certainly, I checked with my pharmacy. That

20 is the only dose that is available. We know that

21 promethazine in a dose-related fashion increases

22 sedation, and therefore one would want to give the

1 antiemetic at the least amount of dose that is
2 effective. And the combination with hydrocodone and
3 with promethazine, 12.5 milligrams in Hydexor will give
4 you an antiemetic that has shown to be effective and at
5 the same time reduce the risk of sedation at
6 12.5 milligrams rather than the separate pills that
7 would be 25 milligrams. I hope that answers your
8 question.

9 DR. HERNANDEZ-DIAZ: Yes. Thank you. Yes,
10 that was my question.

11 DR. BATEMAN: Okay. Next question,
12 Dr. Horrow.

13 DR. HORROW: Yes. This is Jay Horrow. I have
14 a question for the sponsor. I'm very confused about
15 the discussion that just transpired about surgicenters.
16 Most people understand surgicenters to be facilities in
17 which there are no inpatient capabilities. So are you
18 saying that this drug can be given in surgicenters and
19 it can only be given where there are inpatients? This
20 seems to most of us to be contradictory statements.
21 Could you please clarify for us?

22 MR. SCOTT: Yes. Thank you. This drug can

1 only be given where there's an inpatient center.

2 DR. HORROW: Thank you. That's all.

3 DR. BATEMAN: Okay. Dr. Mehta?

4 DR. MEHTA: Hi. Reema Mehta. This question
5 is for the sponsor. I was wondering if you could also
6 just expand upon what training will be included in the
7 program to ensure that the settings understand their
8 requirements within the program, as well as what
9 monitoring you'll be doing from the perspective of the
10 sponsor to ensure that the program requirements are
11 being met, as well as that the program would function
12 as intended.

13 Then lastly, should you identify non-compliant
14 actors within the program, what is your plan for
15 addressing those non-compliant stakeholders?

16 (No response.)

17 MR. SCOTT: Sorry. This is George Scott. I
18 just lost connection. Can you guys hear me?

19 DR. BATEMAN: We can. Thank you.

20 MR. SCOTT: Okay. Yes. I believe the
21 question was regarding our REMS program and how the
22 sponsor would work within that to ensure compliance,

1 correct?

2 DR. MEHTA: It was on a couple of different
3 levels. One, the training for those, what training is
4 going to be made available and what does that training
5 look like for those that are going to be certified
6 under the program? Then the next level was how you as
7 the sponsor will monitor the effectiveness of the
8 program. Then third, what actions you would take as a
9 result of non-compliance within the program.

10 MR. SCOTT: Yes. Thank you. I appreciate
11 that. So as discussed, Hydexor would be subject to a
12 REMS program that is specific to Hydexor, so not the
13 classwide REMS. In fact, IR hydrocodone-containing
14 products, oral medication and the inpatient is not
15 subject to a REMS, but if Hydexor was provided instead
16 of Norco, for example, Hydexor would have a REMS.

17 So there's a certification process that our
18 manufacturer would only deliver Hydexor to certified
19 wholesalers who are certified to only distribute
20 Hydexor to certified healthcare facilities. Part of
21 the certification for the healthcare facility is that
22 the personnel would be trained specifically on Hydexor

1 and its potential side effects, and how to administer
2 Hydexor, and also have a fall protocol on site for the
3 risk of sedation or fall.

4 Through that certification process, we would
5 have auditing and constant reports, including
6 pharmacovigilance, which we proposed in the outpatient
7 but now it's included in this REMS, where we would have
8 all the patient's data entered, and we would
9 consistently have a survey of all these things for
10 compliance and regular audit. To the extent either one
11 of wholesalers or healthcare facilities were doing
12 anything inconsistent with the certification, we would
13 report that an obviously terminate the distribution of
14 Hydexor in those locations. I hope that addresses it.

15 DR. BATEMAN: Thank you.

16 A reminder for advisory committee members,
17 please put your hand down once your question has been
18 answered.

19 Dr. Meisel? We have about five minutes left
20 for clarifying questions, and then we'll move on to the
21 committee discussion.

22 Dr. Meisel?

1 DR. MEISEL: Thank you. Steve Meisel with
2 Fairview in Minneapolis. If I understand the proposal,
3 Hydexor would only be available for an inpatient or
4 perhaps a same-day surgery type of environment. But
5 then they go home, and people still need opioids after
6 surgery for some period of time. So presuming this
7 product works as described, they've been taking
8 Hydexor, and they take whatever, 5, 6, 8, 10 tablets
9 during their hospital stay, and then they go home with
10 a prescription, and that prescription, of course, would
11 not be Hydexor; it would be plain Norco, or Vicodin, or
12 something else.

13 Have you assessed the risk that there would be
14 breakthrough nausea and vomiting in that setting now
15 that the preventive antiemetic has been withdrawn?
16 Thank you.

17 MR. SCOTT: Thank you for your question. No.
18 Originally, our original indication proposed did
19 include the outpatient setting, but we are a limited
20 inpatient setting here. So upon discharge, the
21 physician would have to, as you stated, prescribe an
22 alternative medication that would be not what Hydexor

1 is indicated for.

2 DR. MEISEL: So just to clarify, there would
3 be a risk that has yet to be defined or assessed or
4 quantified that there would be breakthrough nausea and
5 vomiting that would become a surprise to both the
6 physician and the patient at that point, right?

7 MR. SCOTT: Well, let me turn to Dr. Schachtel
8 on this for clarification. I'm not sure if I can
9 characterize it as a surprise per se because our
10 clinical studies were created for the outpatient
11 setting included. However, we've mitigated through our
12 label and our REMS to restrict it to the inpatient
13 setting. So consequently, if opioid-induced nausea and
14 vomiting was continuing to occur in the outpatient
15 setting, then yes, Hydexor, since it's not administered
16 in the outpatient setting, opioid-induced nausea and
17 vomiting could definitely come back to play.

18 But Dr. Schachtel?

19 DR. SCHACHTEL: As I understand your question,
20 Steve, you're asking have we studied patients who
21 stopped using Hydexor and what's their outcome and how
22 to treat it? Is that the --

1 DR. MEISEL: Basically, I think you've got the
2 gist of it because obviously this would be stopped
3 after 2 or 3 days, and then they go on to presumably
4 the same drug without the promethazine. Is there a
5 risk of -- the fact that if this drug works, as you
6 suggest it does, in suppressing opioid-induced nausea
7 and vomiting, now you no longer have that suppressant;

8 A, would it manifest itself, and B, could it
9 manifest itself worse than it otherwise would have
10 because you might have a rebound?

11 DR. SCHACHTEL: Ah, now I understand. Well, I
12 have its evidence, obviously, beyond 3 days. But on
13 day 3, 4, and 5 in the 003 post-bunionectomy study, you
14 could follow the course of patients on Hydexor, and as
15 their need for pain relief diminished, obviously fewer
16 people took Hydexor and took fewer doses per day.

17 So among that group, the question would be,
18 well, they now don't have any Hydexor because they're
19 not taking it; what happens to those people who aren't
20 taking it? And the answer is the incidence of OINV
21 does not increase, or rebound as you're defining it; I
22 like the term.

1 So that's the only thing I can tell you, that
2 in the people who stopped taking Hydexor after
3 day 2 -- we can look on day 3, we can look on 4 and 5,
4 and we've seen this, and it's been submitted in the
5 package you have -- the incidence of OINV among
6 patients who stopped using Hydexor did not increase.

7 Back to you, George.

8 MR. SCOTT: Thank you, Dr. Schachtel. I hope
9 that addresses it.

10 DR. BATEMAN: Okay.

11 We're now going to proceed to the charge to
12 the committee from Dr. Roca.

13 **Charge to the Committee - Rigoberto Roca**

14 DR. ROCA: Hi. This is Dr. Roca. As I put
15 forth the charge to the committee, there are a few
16 points I really would like for you to consider as you
17 begin discussing the questions that we will put in
18 front of you.

19 It is acknowledged that this is a fixed-dose
20 combination product. I am sure that there are some
21 among us who have opinions about the pros and cons of a
22 fixed-dose combination product. You've heard some of

1 them during the questions. Similarly, there are bound
2 to be opinions about the advantages of one antiemetic
3 over another. Lastly, there are probably some thoughts
4 as to where exactly this product would fit in the
5 clinician's therapeutic armamentarium. How will it be
6 used?

7 However, although these points are certainly
8 important, the question to be put forth during today's
9 advisory committee is not about those issues. As
10 you've heard this morning, the efficacy of the product
11 has not been in question. The characterization of a
12 safety profile to the individual components of a
13 combination product has been characterized, as has a
14 safety profile when these components are combined.

15 The question we would like you to discuss is
16 whether the proposed label and REMS have adequately
17 addressed the concerns raised by the observed safety
18 profile. To that end, we have only one question for
19 you, and perhaps we can put it up on the screen. I
20 don't have it handy. But if we don't have it, that's
21 ok, too. It is a voting question, but as you know, in
22 addition to the vote, we find your discussions and the

1 reasons for you voting the way you did extremely
2 valuable.

3 There we go. Thank you. The question we'd
4 like to ask you is on the screen, and I suspect that
5 Dr. Bateman will probably read it to the committee.
6 But those are the things I would like you to consider
7 as you move forward in your discussion. And again,
8 thank you. We'll look forward to hearing what you have
9 to say. Thank you.

10 DR. BATEMAN: Dr. Roca, before you go, can I
11 just clarify? Do you want us to discuss the question
12 before we take the vote or should we move to voting and
13 then discuss the rationale for our votes after the
14 voting's completed.

15 DR. ROCA: Sure. From my perspective, I'm
16 really interested in, once you vote, the rationale as
17 to why you voted the way you did. If you feel that
18 there's any need for additional discussion, I think
19 that would be fine. However, if you're feeling that
20 you have heard enough, and you've got enough
21 clarification as you needed, and are ready to go ahead
22 and vote, that will be fine as well.

1 **Questions to the Committee and Discussion**

2 DR. BATEMAN: Okay. So why don't we take
3 about 10 or 15 minutes. I'll read the question, we can
4 have some discussion, and then we'll move to the vote
5 around 12:30.

6 The question to the committee -- and I'd like
7 to remind public observers that while this meeting is
8 open for public observation, public attendees may not
9 participate except at the specific request to the
10 panel.

11 The question is, based on the revised
12 indication and the proposed Risk Evaluation and
13 Mitigation Strategy, which restricts the intended
14 population and duration of use for Hydexor
15 significantly from the originally submitted
16 application, have the safety concerns been adequately
17 addressed through labeling/REMS?

18 That's the question that's been posed. We
19 have a few minutes of discussion. Please remember, you
20 can't state the way you're going to vote, but if you'd
21 like to bring up issues based on the presentations that
22 we've heard from the sponsor and from the FDA that are

1 relevant to our deliberations around this question, we
2 can do that now.

3 Dr. Roca, you have your hand raised.

4 DR. ROCA: Just a quick comment. As long as
5 you make sure you don't state how you're going to vote.
6 You can certainly discuss it, but you should not say
7 how you're going to vote until you actually vote.

8 DR. BATEMAN: Okay.

9 So we'll move relatively quickly to the
10 voting, but if anyone wants to either ask a question
11 about the way the voting question has been framed or
12 has points that they want to make that should inform
13 the deliberation around the question, please raise your
14 hand.

15 Dr. Calis?

16 DR. CALIS: Hi. This is Karim Calis from the
17 NIH. Just a point of clarification. I'm still unclear
18 about what constitutes a medically-supervised
19 healthcare setting. Are we talking about strictly
20 inpatient hospital settings only or can you elaborate
21 in terms of what the agreement is between the applicant
22 and FDA in terms of that question? Thank you.

1 DR. BATEMAN: Let's direct that to the FDA.

2 Dr. Roca or Dr. LaCivita?

3 (No response.)

4 DR. BATEMAN: We can't hear.

5 Dr. Roca, are you able to respond to that
6 question?

7 DR. LaCIVITA: Hi. This is Cynthia LaCivita.
8 I'm sorry.

9 DR. BATEMAN: Okay. Thank you.

10 DR. LaCIVITA: Can you hear me now? From the
11 FDA.

12 DR. BATEMAN: We can.

13 DR. LaCIVITA: Okay. It's intended to
14 mitigate the risk for the respiratory depression and
15 also the excessive sedation. Healthcare settings that
16 dispense Hydexor must be able to meet the conditions
17 laid out in the REMS, which would be to manage acute
18 overdose and have fall precautions and protocols in
19 place. They would have to have an authorized
20 representative that would oversee the training and the
21 institutional policies and procedures in their site
22 with regard to how many days of treatment these

1 patients can receive, the things laid out previously.
2 We make sure that the settings can meet the
3 requirements, but we don't spell out which settings
4 specifically in the REMS at this point in time.

5 DR. BATEMAN: Okay. Thank you.

6 Dr. Hernandez-Diaz?

7 DR. HERNANDEZ-DIAZ: Sonia Hernandez-Diaz. I
8 am struggling with the question. In the discussion, I
9 was hoping that the practicing clinicians could give us
10 their opinion. It seems to me like the risks and
11 benefits should be compared to the opioids plus the
12 antiemetic and not in a fixed dose.

13 I think this question has two components in my
14 mind. One is the market, like why would anybody use
15 that? But I understand that that's not part of what we
16 are supposed to comment on. But I think that there is
17 a safety component associated with it, of giving the
18 fixed dose versus giving the opioid antiemetic as
19 needed. The only benefit for this risk-benefit balance
20 that I understood is that this way we can reduce the
21 dose of promethazine.

22 So I think we have not discussed the potential

1 benefits and risks of this in the sense that for
2 patients, maybe just giving a lower dose of antiemetic
3 will give the same benefit and maybe lower the risk.

4 For abuse, something that maybe we have not
5 discussed is that having an opioid without the nausea
6 and vomiting may actually increase the likeability and
7 potential for addiction for a larger number of
8 patients. I don't know if that's something that we
9 want to consider, so I would love for the practicing
10 clinicians to comment on this.

11 DR. BATEMAN: Does anyone want to weigh in on
12 Dr. Hernandez-Diaz's question?

13 Dr. Jowza?

14 DR. JOWZA: Hi. Did you say Dr. Jowza?

15 DR. BATEMAN: Yes.

16 DR. JOWZA: Hi. I'm Maryam Jowza from UNC in
17 Chapel Hill. I just wanted to address
18 Dr. Hernandez-Diaz's question. I personally, as a
19 practicing clinician, don't see a very large scope for
20 this medication in the inpatient setting. There are a
21 lot of alternatives. I agree with you that with the
22 fixed-dose combination, you might run into some

1 limitations with how you use it. Personally,
2 promethazine is not the antiemetic first or second line
3 that we usually use, although the purpose of this
4 medicine I guess is for people who have a history of
5 opioid-induced nausea and vomiting, and maybe you want
6 to decrease the pill burden.

7 Something that I do, along with what you said,
8 worry about is having a combination medicine with the
9 hydrocodone and the promethazine, both CNS depressants.
10 There are some reports -- and some patients like the
11 combination effect of having the opioid along with
12 another CNS depressant. Some of the antiemetic
13 medications, which tend to be sedating, are one of
14 those medicines that are used concomitantly with an
15 opioid medicine to help -- I don't know if it's the
16 euphoric, but just the unintended positive addictive
17 effect of the opioid.

18 So I think that's definitely a case, but I
19 don't think that's what we're addressing for the
20 question for this advisory committee.

21 DR. HERNANDEZ-DIAZ: Yes. Thank you.

22 DR. BATEMAN: Okay. Dr. Ciccarone?

1 DR. CICCARONE: Hi. Dan Ciccarone, University
2 of California San Francisco. Just to weigh in on a
3 comment by Dr. Hernandez-Diaz, I thought a lot about
4 the misuse-abuse potential of this drug. I do know
5 promethazine is a likable drug. It does have street
6 value, particularly in combination with things like
7 codeine or other opioids.

8 I'm fairly compelled that the REMS here
9 addresses it, limiting it to the inpatient setting and
10 limiting it to 3 days of dosing, so I don't have a
11 large concern of escape to the street and becoming the
12 new drug. So I just wanted to weigh in a little bit on
13 that.

14 DR. BATEMAN: Okay. Thank you.

15 Dr. McAuliffe?

16 DR. McAULIFFE: Yes. I'd like to address
17 Dr. Hernandez-Diaz's question as well. Promethazine in
18 my practice has not typically been used as a first-line
19 medication for nausea. It's typically reserved
20 perioperatively for rescue as an antiemetic. So I
21 think that when I see the labeling as only when
22 non-sedating alternatives are not effective, that sort

1 of fits in as a rescue antiemetic. So that would be
2 perhaps limiting it to a particular population,
3 although that was not very clear how that would be
4 operationalized.

5 The risks that are addressed by the REMS I
6 think are adequately addressed in terms of certified
7 medically-supervised facilities. There is a question
8 of a 23-hour or 24-hour surgicenter. As Dr. Sprintz
9 said, a patient could be discharged, have a dose of
10 this drug, and then go home and take an opioid on top
11 of that and have some drowsiness. But even if they're
12 inpatient and they're within that 24 hours, and they're
13 in the hospital, the severe drowsiness bothers me
14 somewhat.

15 The falls and respiratory depression
16 associated with that is addressed in the REMS, but what
17 is not is a level less than that, that severe
18 drowsiness in patients who are in the postoperative
19 phase will affect their ability to ambulate, will
20 affect their ability to cough and deep breathe, and in
21 that light would decrease their ability to fight off
22 the postoperative pneumonias and decrease postoperative

1 clots.

2 So I think that the drowsiness and the
3 somnolence associated with that, again, is real, and
4 falls are the ultimate, but there are a lot of risks
5 involved that are less severe than that, that I think
6 need to be addressed as well.

7 DR. BATEMAN: Thank you. I'd just add to
8 that. In the FDA briefing materials where they
9 presented the pooled efficacy studies and the opioid
10 induced symptoms, there was a 32 percent prevalence of
11 confusion in the Hydexor-treated patients compared to
12 just 23 percent in the Norco patients; so not only more
13 drowsiness but a substantially higher prevalence of
14 confusion.

15 Dr. Zaafran?

16 DR. ZAAFRAN: Yes. Thank you. Sherif
17 Zaafran, anesthesiologist in Houston. I'd like to,
18 actually, just a couple things, echo, number one, that
19 promethazine is probably one of the last drugs that we
20 even like to give in our practice for nausea after
21 prophylaxis with others, in rescue with others also.

22 From the standpoint of how we manage patients

1 right now, where we're minimizing opioids and
2 maximizing non-opioids, the way this is, even with the
3 REMS protocol, is kind of limiting, really, essentially
4 what you're doing by giving a higher dose of an opioid
5 and a subtherapeutic dose of the non-opioid. But when
6 you do have issues, whether it be pain or nausea, on
7 this medication, you're kind of limited already as to
8 what you can give, both non-opioids and opioids, or
9 even an antiemetic.

10 So from all the standpoints, it just raises a
11 little bit of concern as to how practical it would be
12 to manage a patient with this drug. Thank you.

13 DR. BATEMAN: Okay. Dr. Calis?

14 (No response.)

15 DR. BATEMAN: You might be muted, Dr. Calis.

16 DR. CALIS: No, I'm sorry. I forgot to lower
17 my hand. Sorry.

18 DR. BATEMAN: Okay. Dr. Horrow?

19 DR. HORROW: Yes. Jay Horrow from
20 Bristol-Myers Squibb and also a practicing clinician
21 for over 40 years, and I have found promethazine to be
22 a valuable adjunct to be used when needed; that is when

1 other antiemetics have failed, and I believe that is
2 how this is being promoted here in terms of the label.

3 The issue is not will this drug be used
4 commonly and will there be great use for it? The issue
5 is will it be a useful adjunct to the clinician in
6 taking care of patients who persist with nausea and/or
7 vomiting and need opioid medications? And I believe
8 that it does, and that the proposed REMS and other
9 labeling restrictions here go well beyond any concerns
10 that have been raised in the past regarding the safety.
11 Thank you.

12 DR. BATEMAN: Okay. We'll take just a couple
13 more comments. And again, please focus your comments
14 on things that should help inform the deliberation but
15 not on the way you're going to vote or the specific
16 question being asked.

17 Dr. Meisel?

18 DR. MEISEL: Thank you. Steve Meisel with
19 Fairview in Minneapolis. I frankly think that the
20 proposed REMS here doesn't address the concerns in an
21 awful lot of ways. We talk about the fact that the
22 elderly are problematic, so we're just going to limit

1 it to the hospitals and not nursing homes. Well, an
2 awful lot of people who are elderly receive surgery in
3 the hospitals and there's no dose or restrictions for
4 the elderly, so that's one problem.

5 We say, well, there's a risk of falls when
6 people get sedated, so we'll just do it in the
7 hospitals. But I can tell you that when we put
8 patients into falls risk, the first thing we do is take
9 away added risks. We don't introduce new risks, which
10 would be the case with Hydexor, adding yet another
11 risk.

12 The suggestion that, well, we're going to
13 limit it to patients who have a high risk of
14 opioid-induced nausea and vomiting, I've yet to hear
15 how one differentiates that other than just know it
16 when they see it. But Dr. Gan suggested that anybody
17 on an opioid is considered high risk, and therefore
18 anybody post-op who receives an opioid would qualify
19 for this drug.

20 A maximum of 5 doses per day, and then what
21 happens when you need more? That hasn't been
22 well-ironed out. I can tell you from a practical point

1 of view, perhaps only prescribed q4 hours PRN maximum
2 of 5 doses per day, that is almost impossible to
3 effectively administer, so people will get 6 doses per
4 day, not by intent but just by the way these things
5 sort of happen.

6 I think there are some good intents behind
7 this in terms of language, but when you start to peel
8 away the onion, I think those risks are quite there,
9 quite profound, and could actually be higher than
10 otherwise would be the case because there's a false
11 sense of security. Thank you.

12 DR. BATEMAN: Thank you.

13 We'll take one more brief comment from
14 Dr. Sprintz, and I'd remind all of the panelists,
15 please place your phones on mute if you're not
16 speaking.

17 Dr. Sprintz?

18 DR. SPRINTZ: Hi. This is Dr. Michael
19 Sprintz. I wanted to address Dr. Hernandez-Diaz's
20 comment as well. The thing that keeps coming to mind,
21 I did acute pain for a number of years and anesthesia,
22 and one of the things when we're talking about this

1 patient population, we're looking at a population of
2 people that are inpatient, postoperative, which means
3 the vast majority of them all have IVs in place.

4 So I'm still grappling with the idea of we're
5 going to give a pill to someone who's got nausea and
6 vomiting -- because a lot of times -- and I'm sure
7 others have had -- I've had the problem of having the
8 patient throw the pill up, and then it doesn't work
9 anyway, and they have a useful IV in place. So for
10 myself, the patient population we're talking about
11 actually applying this for is exactly the population I
12 wouldn't necessarily want to give a pill for.

13 Then another issue with that is the only area
14 that I could see that being is, well, okay, the IV is
15 out. Well, if the IV is out, that usually relates to
16 the ambulatory surgery center where the patient's ready
17 for discharge and then complains of nausea, but those
18 are the people that we absolutely don't want to give it
19 to because they're going home.

20 Those were some questions that I don't feel
21 have been -- I'm just concerned about those things, let
22 alone the addictive issue for the combination drug.

1 It's very popular. There is a street value for that,
2 too. Thank you.

3 DR. BATEMAN: Okay. Thank you.

4 We'll now proceed with the voting question.
5 Dr. Moon Hee Choi will provide instructions for the
6 voting.

7 Dr. Choi?

8 Excuse me. We're going to go back to Dr. Roca
9 for a comment before we move on to voting.

10 DR. ROCA: Yes. This is Dr. Roca. I was just
11 going to comment that you should go on to the voting at
12 this point, so you took care of my comment. Thank you.

13 DR. CHOI: Question 1 is a voting question.
14 Voting members will use the Adobe Connect platform to
15 submit their votes for this meeting. After the
16 chairperson has read the voting question into the
17 record, and all questions and discussion regarding the
18 wording of the vote question are complete, the
19 chairperson will announce that voting will begin.

20 If you are voting member, you will be moved to
21 a breakout room. A new display will appear where you
22 can submit your vote. There will be no discussion in

1 the breakout room. You should select the radio button
2 that is the round, circular button in the window that
3 corresponds to your vote: yes, no, or abstain. You
4 should not leave the "no vote" choice selected.

5 Please note that you do not need to submit or
6 send your vote. Again, you need only to select the
7 radio button that corresponds to your vote. You will
8 have the opportunity to change your vote until the vote
9 is announced as closed.

10 Once all voting members have selected their
11 vote, I will announce that the vote is closed. Next,
12 the vote results will be displayed on the screen. I
13 will read the vote results from the screen into the
14 record. Next, the chairperson will go down the roster
15 and each voting member will state their name and their
16 vote into the record. You can also state the reason
17 why you voted as you did if you want. However, you
18 should also address any subparts of the voting question
19 if any.

20 Are there any questions about the voting
21 process before we begin?

22 (No response.)

1 DR. BATEMAN: Okay. If there are no questions
2 about the process, then I'm going to read the question
3 into the record.

4 Based on the revised indication and proposed
5 Risk Evaluation and Mitigation Strategy, REMS, which
6 restricts the intended population and duration of use
7 for Hydexor significantly from the originally submitted
8 application, have the safety concerns been adequately
9 addressed through labeling/REMS? And if you voted no,
10 please comment on what additional issues the applicant
11 needs to address.

12 Are there any final clarifying questions, just
13 clarifying questions about the wording of the question?

14 (No response.)

15 DR. BATEMAN: If not, we'll begin voting on
16 question 1.

17 (Voting.)

18 DR. CHOI: Voting has closed and is now
19 complete. The vote results are displayed. I'll read
20 the vote totals into the record. The chairperson will
21 go down the list and each voting member will state
22 their name and their vote into the record. You can

1 also state the reason why you voted as you did if you
2 want to. However, you should also address any subparts
3 of the voting question if any.

4 For the record, we have 7 yes; 14 no; and zero
5 abstentions.

6 DR. BATEMAN: Thank you. We'll now go down
7 the list and have everyone who voted state their name
8 and vote into the record. You may also provide
9 justification for your vote if you wish to. However,
10 please remember to address any of the subparts of the
11 question that correspond to your vote.

12 We'll start with Dr. Hincapie-Castillo.

13 DR. HINCAPIE-CASTILLO: Hi. This is Dr. Juan
14 Hincapie-Castillo, and I voted no. I based my decision
15 explicitly on the inclusion of labeling and the REMS.
16 Whereas the REMS do impose a lot of limitations, I
17 think the labeling is not very strong or clear enough.
18 I think it's very hard still to identify those patients
19 at high risk. I would suggest the sponsor look at
20 maybe revising the labeling for patients with a history
21 of opioid-induced nausea and vomiting. I'm very
22 concerned about the safety issues in older adults since

1 these were very underrepresented in the studies. Thank
2 you.

3 DR. BATEMAN: Okay. Thank you.

4 Dr. Jowza?

5 DR. JOWZA: Maryam Jowza. I voted yes. My
6 main concerns were regarding oversedation and potential
7 for risk for abuse. I do feel that with the risk
8 mitigation strategies and restricting to inpatient use
9 in a monitored facility, my concern has been met. I
10 feel safe with it.

11 DR. BATEMAN: Thank you.

12 Dr. Porter?

13 DR. PORTER: Yes. This is Laura Porter. I
14 voted no. I believe that when the patient is
15 discharged after 3 days of being on the Hydexor, they
16 will still have the issue of nausea and vomiting with
17 opioids, so the Hydexor will only delay what is going
18 to happen anyways. Also, I have a concern about the
19 safety issues; particularly in the elderly, the risk of
20 increased sedation and also the addiction potential.
21 Thank you.

22 DR. BATEMAN: Dr. Zaafran?

1 DR. ZAAFRAN: Thank you. Sherif Zaafran. I
2 voted no. My concerns are that even with the risk
3 mitigation procedures, if a patient needs a rescue
4 medication, that you're adding on to it the potential
5 risk of even more sedation. The use of it in that kind
6 of inpatient setting is really impractical, as you have
7 the way the drug is combined in that matter and that
8 fashion. And again, from the standpoint about
9 discharge, you're kind of running into the problem of a
10 patient still having these symptoms, yet having to deal
11 with giving them medication separately. And for that,
12 I voted no -- [inaudible - audio gap].

13 DR. BATEMAN: We've lost you at the end.

14 Dr. Zaafran, are you --

15 (No response.)

16 DR. BATEMAN: Okay. We'll come back to you so
17 you can complete your comments.

18 Dr. Zacharoff?

19 DR. ZACHAROFF: Hi. Kevin Zacharoff,
20 Renaissance School of Medicine at Stony Brook
21 University. I voted no. And just to clarify, I voted
22 no specifically with respect to the question at hand

1 with respect to the REMS, not whether the drug be
2 approved with the intended labeling.

3 I voted no for a variety of reasons, many of
4 which have to do with the wording of the suggested
5 REMS. Throughout the briefing documents and even the
6 elements to assure safe use, I saw the words
7 "administer, dispense, distribute" used
8 interchangeably, when in actuality they all mean
9 different things, and I didn't feel the REMS
10 satisfactorily addressed purely administering the
11 medication to someone. When I hear words like
12 "dispense" I think about somebody being given
13 medication to go home.

14 I don't necessarily consider the REMS to be
15 significantly clear with respect to the limited use of
16 this medication in terms of limited settings. I would
17 have much more preferred the word "inpatient use"
18 because I don't consider there to be such a thing as an
19 inpatient in situations like ambulatory surgical
20 centers, and I found the word "certified clinical
21 settings" to be unclear. If it had been "hospital
22 inpatient settings," that would have gone a long way

1 for me.

2 Then lastly, I voted no because while I agree
3 basically with every comment Dr. Gan made today with
4 respect to real-world use of this medication, I haven't
5 seen anything presented with respect to real-world use
6 of this particular medication given the circumstances
7 we're talking about. Oral surgery, bunionectomy, and
8 osteoarthritis flares are not likely situations where
9 this medication would be used as the REMS specifies.
10 Thank you.

11 DR. BATEMAN: Dr. Michna?

12 DR. MICHNA: Yes. Ed Michna. I voted yes as
13 to the REMS issues. The utility of this drug is
14 another issue that we weren't asked.

15 DR. BATEMAN: Thank you.

16 Dr. Hovinga?

17 DR. HOVINGA: Collin Hovinga. I voted yes. I
18 focused largely on the REMS and the risk mitigation
19 strategy imposed, not on efficacy or the standard of
20 practice and where this lies. Given that, I voted yes.

21 DR. BATEMAN: Thank you.

22 Dr. Goudra?

1 DR. GOUDRA: Dr. Basavana Goudra from Penn
2 Medicine. I voted no. One, there are plenty -- I
3 wouldn't say plenty. There are certain antiemetics
4 which are non-sedative, and given the variability in
5 response, especially across age groups and patients
6 with associated commodities, I don't think adding
7 another sedative to opiates is a good thing.

8 Promethazine is certainly not the first-line
9 antiemetic, and I don't prescribe it much, and I don't
10 know when was the last time I prescribed it. Other
11 than convenience, quote/unquote, "convenience" of
12 combining these two, I don't see any good reason or
13 purpose for this, and that's the reason I voted no.
14 Thank you.

15 DR. BATEMAN: Dr. Hernandez-Diaz?

16 DR. HERNANDEZ-DIAZ: Sonia Hernandez-Diaz. I
17 voted no. It was not easy because I do agree that the
18 sponsor has really restricted intended population and
19 duration and substantially modified the labeling and
20 the REMS. The use proposed is above standards compared
21 to other opioids. However, I still, probably 51 to 49,
22 voted no because I think there are remaining safety

1 concerns that outweigh what I can see as huge benefits
2 compared to given the opioids without [indiscernible]
3 antiemetic.

4 DR. BATEMAN: Okay. Thank you.

5 Dr. Ciccarone?

6 DR. CICCARONE: Hi. Dan Ciccarone, UCSF. I
7 voted yes. I believe that opioid-induced nausea and
8 vomiting is a real clinical problem. I believe the
9 company has addressed all the FDA and advisory
10 committee concerns over four reviews. I agree with
11 others that there's some clinical limitation here given
12 the fixed-dose regimen, but that's left up to the
13 clinicians. That's not for us to decide today.

14 The question for us is around have safety
15 issues been addressed. I do think the REMS, which is
16 specific to this product, appears to be adequate for
17 population level safety concerns, for example, opioid
18 misuse, as well as individual patient safety issues.
19 So that's why I voted yes to this question. Thank you.

20 DR. BATEMAN: Thank you.

21 Dr. Sprintz?

22 DR. SPRINTZ: Hi. This is Dr. Michael

1 Sprintz, and I voted no. Based on the question being
2 asked, I believe that the risks still outweigh any
3 benefit of this combination product. I have a lot of
4 concerns about the safety of this product in
5 combination from an abuse and addiction potential. But
6 regarding the actual voting question, I do not feel
7 that the applicant has put together an effective plan
8 for ensuring adherence to their suggestions for
9 limiting distribution of the product, and that concerns
10 me deeply about the risk for unintended consequences,
11 including diversion, abuse, as well as accidental
12 overdose.

13 The term "certified healthcare setting" also
14 really concerns me because it can be interpreted in
15 such an extremely broad way to cover a whole bunch of
16 settings. I think Dr. Zacharoff had also commented on
17 a much more specific inpatient hospital type definition
18 is something that I would have felt more comfortable
19 with.

20 Third, I know that a number of opioid REMS
21 programs, while they all start with good intentions,
22 oftentimes don't succeed in their goals and with some

1 severe unintended consequences, and I'm not confident
2 that this REMS solution that was offered today would
3 address the safety concerns listed. Thank you.

4 DR. BATEMAN: Dr. Arfken?

5 DR. ARFKEN: This is Cynthia Arfken. I voted
6 no. I'm concerned about the safety in the elderly, and
7 not enough information was provided for that. I'm also
8 concerned about actually implementing the labeling and
9 the REMS. The labeling has to do with the private
10 insurance and other payers who decide whether they will
11 pay for it. I found the labeling too vague. Thank
12 you.

13 DR. BATEMAN: Dr. Calis?

14 DR. CALIS: Hi. This is Karim Calis, and I
15 voted no, again, for really safety concerns. Both with
16 regards to the REMS and the labeling, I think in terms
17 of the REMS, I think the terminology should be much
18 more explicit about what constitutes a
19 medically-supervised healthcare setting. Certainly
20 ambulatory surgical centers, to my mind, diverge from
21 an inpatient setting. The stay there is limited to a
22 few hours. There may be a temptation even to continue

1 a medication, perhaps mistakenly, with the thought of
2 completing a 3-day course. I know that would be
3 unintended but certainly possible.

4 I also really feel that in terms of -- I know
5 Dr. Gan addressed who would be at high risk, female,
6 et cetera, but I think, really, it's very difficult to
7 implement and operationalize the indication for use,
8 even in the context of the limitations of use as well.

9 How can you really implement what's severe
10 enough to require an opioid; and only when non-sedating
11 alternatives are not tolerated or ineffective; and
12 what's high risk? Especially in opioid-naive patients,
13 patients having surgery, looking at it in the context
14 of the types of surgical procedures other than
15 bunionectomies, and oral surgery, and things like that
16 where you're dealing with a much more diverse patient
17 population, I think the risks are still there, and I
18 don't believe that labeling and the REMS really
19 effectively address those. Thank you.

20 DR. BATEMAN: Thank you.

21 Dr. Shoben?

22 DR. SHO BEN: Hi. I'm Abby Shoben, and I voted

1 yes, basically on the strict interpretation of the
2 question. The primary safety concerns in the previous
3 submission, in my mind, were about the population level
4 of risk to exposing people who wouldn't benefit, to the
5 potential risk of having the extra sedation. And that
6 risk has been well mitigated, I think, by doing this in
7 an inpatient setting with appropriate precautions and a
8 broader population public health risk of putting this
9 drug out there that potentially has more abuse
10 potential, and that's been well addressed by
11 restricting the setting and restricting the number of
12 days. Thank you.

13 DR. BATEMAN: Dr. Higgins?

14 DR. HIGGINS: Jennifer Higgins. I voted yes.
15 My initial safety concerns about use in older adults
16 that I expressed in the 2018 advisory committee meeting
17 has been adequately addressed through the labeling and
18 REMS that was proposed for this meeting. Thank you.

19 DR. BATEMAN: Dr. McCann?

20 DR. McCANN: Hi. Mary Ellen McCann, Boston
21 Children's Hospital. I voted yes. It was a very
22 narrow decision. I basically read the question

1 literally, and I think the sponsor has responded to the
2 concerns of the FDA and the meeting in 2018. I have
3 concerns that the REMS won't be followed, but I don't
4 think that we were asked to really discuss that or vote
5 on that, so I voted yes. Thank you.

6 DR. BATEMAN: Thank you.

7 Dr. Habel?

8 DR. HABEL: Yes. This is Laurel Habel. I
9 voted no, mostly because I think the REMS is still
10 unclear on the settings where this could be given. I
11 also thought there was a lack of clarity on how
12 high-risk patients will be defined. That's all.

13 DR. BATEMAN: Okay. Thank you.

14 Brian Bateman, and I voted no. I remain
15 concern about the risks of administering a CNS
16 depressant alongside an opioid in the context of a
17 substantial proportion of patients not likely to
18 achieve benefit from the medication. We saw that 40 or
19 50 percent of the patients in the Norco group didn't
20 have severe nausea, or moderate or severe nausea, and
21 didn't require a rescue antiemetic.

22 So you're exposing a substantial number of

1 patients to this additional CNS depressant without a
2 clear benefit, and while just administering the
3 medication in a licensed healthcare facility mitigates
4 some of the risk, it doesn't mitigate the risks
5 entirely. Severe drowsiness and confusion have
6 deleterious effects on patients. Even if it doesn't
7 result in frank opioid overdose or falls, I think being
8 severely drowsy, being confused, can compromise their
9 ability to participate in their recovery, to breathe
10 deeply, and do all of the things that we hope patients
11 do in the postoperative period.

12 I think another concern is that elderly
13 patients were underrepresented in the trials, and
14 that's a group we would be concerned about, these
15 effects of the CNS depressants being accentuated. I
16 also worry about the fact that a large fraction of
17 patients are on other CNS depressants, so
18 benzodiazepine, Z-drugs, antipsychotics, which could
19 interact with both the promethazine and the opioid to
20 further increase the risk of adverse effects.

21 I think a way forward for me to feel
22 comfortable with this, the sponsor would need to be

1 able to define the patients who are going to benefit
2 from the treatment, so perhaps limiting the indication
3 to patients who were experiencing nausea associated
4 with the administration of opioids. But short of that,
5 I think giving it in a prophylactic way is quite
6 concerning.

7 Dr. Meisel?

8 DR. MEISEL: Hi. Steve Meisel M Health
9 Fairview in Minneapolis. I also voted no. I agree
10 with much of everything that Dr. Bateman just said, but
11 in general, I think REMS programs are reasonably
12 ineffective to drive changes in practice and improve
13 clinical outcomes. I think that's been well described
14 elsewhere, including in the public comment period.

15 The issue of the elderly is very important to
16 me. The population that's received this drug has been
17 small with the elderly, and they were underrepresented
18 in that regard, and they are at high risk of adverse
19 events from this sort of a product. By definition,
20 these folks are at risk for falls, and as I think I
21 mentioned earlier, one of the things that you do when
22 you have patients who are at risk for falls is to take

1 away triggers for falls risk, and certainly
2 promethazine is a trigger for a falls risk. If you
3 give a drug that's going to be helpful in less than
4 half the patients, that's certainly something that you
5 would do on an automatic basis.

6 Again, the inability to describe what a
7 high-risk patient is, is of high concern to me.
8 Dr. Gan essentially said anybody on an opioid would be
9 considered high risk, so that's basically carte blanche
10 for everyone. I don't think the dosing limits are
11 practical, 5 doses per day. There will be a great
12 tendency, if the drug doesn't work, to give a tablet
13 and a half, and then you're exposing somebody to even a
14 higher dose of the promethazine. Some people may not
15 even need 7 and a half milligrams of hydrocodone, and
16 then you're giving them too much of that to start with.

17 Then finally, I have relatively little
18 confidence that we could restrict the filtering of this
19 product into the community. Patients go home after a
20 day, day and a half, and they theoretically can get
21 another half a dozen tablets or something. If there's
22 a will, there's a way that that will get dispensed, and

1 find its way into a patient's pocket, and go home, so
2 that's going to be a problem. And even when it
3 doesn't, I think the risk of rebound nausea and
4 vomiting hasn't been well described and could end up
5 being an unintended problem.

6 So really on balance, I see little benefit,
7 lots of risks, and those risks are not addressed by the
8 REMS or the labeling. Thank you.

9 DR. BATEMAN: Thank you.

10 Dr. Nelson?

11 DR. NELSON: Yes. Hi. It's Lewis Nelson from
12 Rutgers New Jersey Medical School, and I voted no. The
13 REMS is an impressive attempt to address the risks,
14 however, you know the expression, "Those who have
15 failed to study history are doomed to repeat it." The
16 broad limitation of the prior REMS, although seemingly
17 appropriate at the time for their intended use, have to
18 be heeded, for this opioid analgesic that has such
19 limited clinical benefit over existing medications, to
20 support the risk that it carries.

21 Bypassing the REMS, whether inadvertently
22 through poor understanding or lacks enforcement, or

1 intentionally for philosophical or economic reasons,
2 puts hydrocodone, the most widely abused and misused
3 opioid, in a fairly high dose in what amounts to an
4 anti abuse-deterrent formulation with both an
5 anti- [indiscernible] and an additional psychoactive
6 drug, onto the street, which raises very grave concerns
7 for me.

8 The indications for use are fairly loose and
9 very subjective, and the settings of use were left
10 fairly unclear. So on this basis, I cannot support the
11 approval of this combination drug. Thank you.

12 DR. BATEMAN: Thank you.

13 Dr. McAuliffe?

14 DR. McAULIFFE: Yes. Hi. I voted no,
15 primarily for many of the reasons that have already
16 been stated. The population I don't believe was
17 adequately defined, and a great proportion, the
18 majority of patients in fact, may be exposed to a drug
19 that they will not receive benefit from in terms of
20 antiemetic therapy.

21 They have adequately addressed the duration.
22 I think that's good that they narrowed it down to

1 3 days and a maximum of 5 pills, which was I think an
2 improvement. But in the labeling instructions, it
3 said, "Discontinue as soon as the need for concomitant
4 therapy is no longer necessary," which led me to wonder
5 how would one even determine if an antiemetic is no
6 longer necessary when it's administered empirically
7 with each dose of hydrocodone? So I think that could
8 be addressed as well, and I think I'll leave it at
9 that. Thank you.

10 DR. BATEMAN: Thank you.

11 Dr. Horrow, you wanted to comment.

12 (No response.)

13 DR. BATEMAN: Dr. Horrow, did you have a
14 comment?

15 DR. HORROW: Yes. Sorry. I was on mute.
16 Just a congratulations to you, Dr. Bateman, on a
17 well-conducted advisory committee, and an observation
18 that your comments, as well as those of Drs. Calis,
19 Zacharoff, and Habel, could provide a very positive way
20 for the agency to move forward with improving the
21 language and perhaps coming to a resolution here, but
22 thank you.

1 DR. BATEMAN: Okay. Thank you.

2 Before we adjourn, are there any last comments
3 from the FDA?

4 DR. ROCA: Hi. This is Dr. Roca. I just
5 wanted to say thanks. We do appreciate your comments
6 and your discussion, and we also appreciate you taking
7 time, as I mentioned this morning, from your busy
8 schedules.

9 Let me just see if there are any other
10 comments from the rest of my team that they want me to
11 pass on.

12 (No response.)

13 DR. ROCA: Okay, nothing other than saying
14 thank you again for your assistance.

15 Back to you, Dr. Bateman.

16 **Adjournment**

17 DR. BATEMAN: Great.

18 Thank you, everyone, for your participation in
19 the meeting. We will now adjourn today's meeting.
20 Thank you very much.

21 (Whereupon, at 1:09 p.m., the meeting was
22 adjourned.)