

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FDA Rare Disease Day 2021

Moderated by Dr. Lewis Fermaglich

Friday, March 5, 2021

9:00 a.m.

Virtual - DC

Food & Drug Administration (FDA)

Washington, DC 20001

Reported by: Carl Hellandsjo (by videoconference)

JOB No.: 4446753

1 A P P E A R A N C E S

2 List of Attendees:

3 Janet Maynard, MD, MHS, Director, Office of Orphan

4 Products Development (OOPD) FDA

5 Lewis Fermaglich, MD, MHA, Acting Senior Clinical

6 Advisor, OOPD, FDA

7 Katherine Needleman, PhD, Director, Clinical Trials

8 and Natural History Grant Program, OOPD, FDA

9 Amy Abernethy, MD, PhD, Principal Deputy Commissioner

10 of Food and Drugs, Acting Chief Information Officer,

11 FDA

12 Susan McCune, MD, Director, Office of Pediatric

13 Therapeutics, FDA

14 Robert Kroschwitz, President and CEO of Berlin Heart

15 Inc.

16 Frank McCormack, MD, Professor of Medicine; Director,

17 Division of Pulmonary, Critical Care, and Sleep

18 Medicine, University of Cincinnati

19 Vasum Peiris, MD, MPH, Chief Medical Officer and

20 Director - Pediatrics and Special Populations, Center

21 for Devices and Radiological Health (CDRH), FDA

22 //

1 A P P E A R A N C E S (CONT'D)

2 List of Attendees:

3 Sally Seymour, MD, Director, Division of Pulmonology,
4 Allergy, and Critical Care (DPACC), Center for Drug
5 Evaluation and Research (CDER), FDA

6 Robyn Bent, RN, MS, CAPT, U.S. Public Health Service,
7 Director, CDER PFDD Program, FDA

8 Wen-Hann Tan, BMBS, Attending Physician, Division of
9 Genetics and Genomics, Associate Professor of
10 Pediatrics, Harvard Medical School

11 Amanda Moore, CEO of the Angelman Syndrome Foundation

12 Martin Ho, MS, Associate Director of Science for
13 Patient Inputs and Real-World Patient Evidence, Office
14 of Biostatistics and Epidemiology, Center for
15 Biologics Evaluation and Research (CBER), FDA

16 Andrea Furia-Helms, MPH, Director, Office of Patient
17 Affairs, Office of Clinical Policy and Programs, FDA

18 Janet Woodcock, MD, Acting Commissioner of FDA

19 M. Khair ElZarrad, PhD, MPH, Deputy Director, Office of
20 Medical Policy, CDER, FDA

21 //

22 //

1 A P P E A R A N C E S (CONT'D)

2 List of Attendees:

3 Rachel Sher, JD, MPH, Vice President, Policy and
4 Regulatory Affairs, National Organization for Rare
5 Disorders (NORD)

6 Nicholas E. Johnson, MD, Associate Professor, Vice
7 Chair of Research, Neuromuscular Division Chief,
8 Department of Neurology, Virginia Commonwealth
9 University

10 Christine Mueller, DO, Medical Officer, Office of
11 Orphan Products Development, FDA

12 Christopher P. Austin, MD, Director, National Center
13 for Advancing Translational Sciences

14 Erika Torjusen, MD, MHS, Director, Pediatric Device
15 Consortia and Rare Pediatric Disease and Humanitarian
16 Use Device Designation Programs, OOPD, FDA

17 Peter Marks, MD, PhD, Director, CBER, FDA

18 Jeffrey Shuren, MD, JD, Director, CDRH, FDA

19 Patrizia Cavazzoni, MD, Acting Director, CDER, FDA

20 Catherine Park, Project Management Officer, OOPD

21 Dale Sanders, public commentor

22 Jennifer Ostrom, Health Tree Foundation

1 A P P E A R A N C E S (CONT'D)

2 List of Attendees:

3 Eric Hartman, Choroideremia Research Foundation (CRF)

4 Jason Colquitt, Across Healthcare

5 Dean Suhr, MLD Foundation

6 Brian Smith, public commentor

7 Sophia Zilber, public commentor

8 Parvathy Krishnan, public commentor

9 Mary McGowen, Foundation for Sarcoidosis Research

10 Christina Brundage, public commentor

11 Qais Abu Ali, MD

12 Mary Faxas, public commentor

13

14

15

16

17

18

19

20

21

22

	C O N T E N T S	
		PAGE
1		
2		
3	Welcome by Dr. Maynard	9-13
4	Meeting Overview by Dr. Fermaglich	13-19
5	Orphan Products Grant Program by Dr. Needleman	19-37
6	Opening Remarks by Dr. Abernethy	37-42
7	Panel 1:	
8	Dr. McCune	44-46
9	Mr. Kroslowitz	46-55, 69, 88
10	Dr. McCormack	56-68, 73-75, 79-80, 82-83, 90-92
11	Dr. Peiris	70-72, 80-82, 86-88
12	Dr. Seymour	76-78, 83-84, 92-93
13	Panel 2:	
14	Ms. Bent	95-97
15	Dr. Tan	97-160, 130-133
16	Ms. Moore	107-115, 131-132, 135-137, 141-142
17	Mr. Ho	115-122, 133-134
18	Ms. Furia-Helms	122-129, 137-138, 139-141
19	Afternoon Intro by Dr. Woodcock	146-155
20	Panel 3:	
21	Dr. ElZarrad	156-157
22	Ms. Sher	157-166, 184-168, 197-200, 203-204

	C O N T E N T S (CONT'D)	
		PAGE
1		
2		
3	Dr. Johnson	166-172, 186-187, 193-194, 204-205
4	Dr. Mueller	179-138, 191-193, 205-206
5	Dr. Austin	174-179, 187-197, 201-203
6	Panel 4:	
7	Dr. Torjusen	208-209
8	Dr. Marks	215-220, 231-234, 236
9	Dr. Shuren	209-215, 226-229, 235-236
10	Dr. Cavazzoni	220-225, 234-238
11	Open Public Comment Period:	
12	Ms. Park	239-240
13	Mr. Sanders	240-242
14	Ms. Ostrom	242-244
15	Mr. Hartman	244-246
16	Mr. Colquitt	247-249
17	Mr. Surh	249-252
18	Mr. Smith	252-254
19	Ms. Zilber	254-256
20	Ms. Krishnan	256-258
21	Ms. McGowen	258-260
22	Ms. Brundage	260-262

1	C O N T E N T S (CONT'D)	
2		PAGE
3	Dr. Ali	262-264
4	Ms. Faxas	264-266
5	Closing Remarks by Dr. Maynard	266-269
6		
7		
8		
9		
10		
11		
12		
13		
14		
15		
16		
17		
18		
19		
20		
21		
22		

1 P R O C E E D I N G S

2 DR. MAYNARD: Good morning, and welcome
3 to this virtual public meeting, FDA Rare Disease Day
4 2021. It is an exciting time in the development of
5 rare disease treatments with new innovations and
6 advancements.

7 My name is Janet Maynard, and it is my
8 privilege to serve as the director of the Office of
9 Orphan Products Development at FDA. The mission of the
10 Office of Orphan Products Development is to advance
11 the evaluation and development of products, including
12 drugs, biologics, devices, and medical foods, that
13 demonstrate promise for the diagnosis and treatment of
14 rare diseases or conditions.

15 A key aspect of supporting this mission
16 is collaboration. This collaboration is seen both
17 within FDA and in FDA's work with others. Within FDA,
18 the Office of Orphan Products Development works
19 closely with the medical product Centers. These
20 Centers facilitate development of drugs, biologics,
21 and devices, and have facilitated important
22 advancements for rare diseases. In addition, FDA works

1 with other rare disease stakeholders, including NIH,
2 pharmaceutical and device companies, and patients and
3 their families. Today's meeting is one example of that
4 type of collaboration.

5 Another key aspect of this
6 collaboration is coming together to work towards our
7 common goal of the development of treatments for rare
8 diseases. A year ago, many of us in the rare disease
9 community came together to recognize Rare Disease Day.
10 We at FDA were so appreciative of that opportunity to
11 engage directly with you, at FDA Rare Disease Day
12 2020.

13 Over the last year, the rare disease
14 community, including people with rare diseases and
15 their families, have been profoundly impacted by
16 COVID-19. The broad impacts of the COVID-19 pandemic
17 have been seen in many ways, such as accessing medical
18 care, participating in clinical trials, and bringing
19 together stakeholders.

20 Today, as we recognize Rare Disease Day
21 2021, we are coming together in a virtual format to
22 continue our momentum in rare disease product

1 development. An FDA cross-agency group has worked
2 tirelessly to plan this meeting. And I would like to
3 recognize and thank all the individuals who helped
4 plan this meeting. And also thank all our meeting
5 participants. While we would like to be together in
6 -person, we are thankful for this opportunity to engage
7 through a virtual format. Like many things over the
8 last year, we have adapted to the new challenges, and
9 continue to support rare disease product development.

10 Many of the staff at FDA who work on
11 issues related to rare diseases are participating in
12 this meeting. Usually, you would see these individuals
13 throughout the day. Please know that these individuals
14 are here supporting the meeting and listening to your
15 questions and comments. Here is a picture of some of
16 the staff in the Office of Orphan Products
17 Development, and individuals from FDA who helped plan
18 today's meeting.

19 We come together today in a virtual
20 format to celebrate the work that has been done and
21 consider strategies to facilitate the development of
22 treatments for rare diseases. This meeting will

1 include examples of rare disease product development
2 programs, such as studies funded by the Orphan
3 Products Grants Program, to illustrate types of
4 challenges faced and strategies used to address them.
5 It is important to remember that patients and families
6 are the focus of our work to facilitate the
7 development of rare disease treatments.

8 As a rheumatologist, I have had the
9 honor of caring for many patients with rare diseases.
10 As is common in rare diseases, many of my patients had
11 long diagnostic odysseys that spanned years, or even
12 decades. Some patients would arrive in clinic with
13 U-Haul boxes of medical records. Determining a
14 diagnosis is one important step, as is considering
15 potential treatment options.

16 For Rare Disease Day 2021, building on
17 FDA's programs and initiatives aimed at promoting
18 inclusion of the patient voice, we captured brief
19 stories from the rare disease community in the FDA
20 rare disease photo and video project. I encourage you
21 to listen to these videos, and to also share your own
22 stories and perspectives.

1 Today's meeting offers us the
2 opportunity to share strategies to support rare
3 disease product development. And thank you for being
4 part of this meeting.

5 In closing, over the last year, we have
6 faced challenges as a rare disease community. As we
7 look forward, we are encouraged by the innovation and
8 strength we have seen. Thank you for participating
9 today, and I look forward to a productive meeting.

10 Now, I would like to introduce -- Lewis
11 Fermaglich --

12 DR. FERMAGLICH: Thank you, Janet. I am
13 honored to be acting as your master of ceremonies for
14 this momentous day, FDA Rare Disease Day 2021. The
15 virtual setting presents a unique challenge to pull
16 off an engaging and informative conference. But as MC,
17 it is actually -- it actually makes my job easier. I
18 do not need to remind you to silence cell phones, the
19 locations of the restrooms, how to order lunches,
20 Wi-Fi passwords, or anything like that. If you do not
21 know your Wi-Fi password, ask your kid. I am sure they
22 know by now.

1 As a bit of background on me, my name
2 is Lewis Fermaglich, and I am the acting senior
3 clinical advisor in the Office of Orphan Products
4 Development, or OOPD. Prior to coming to FDA, I was a
5 general pediatrician for 10 years. Over my time in
6 practice I spent the majority of my time doing well
7 child checks, giving anticipatory guidance, and
8 treating mild illnesses. I took care of an Olympic
9 swimmer, a chess champion, and a variety of smiling,
10 drooling babies, goofy, playful kids, and brooding
11 adolescents. And I considered it a privilege to do so.

12 But during my clinical career, I always
13 found myself drawn to kids and families affected by
14 rare diseases. The siblings with a rare form of
15 esophageal constriction, the newborn with stroke and
16 seizures, the family struggling with a new diagnosis
17 of a genetic syndrome. These patients and families
18 elicited a passion in me that motivated me to be a
19 better doctor.

20 I am still in touch with many of these
21 families, and it is their stories that fuel my
22 interest in my current job. I am so lucky to get to

1 work with rare disease patients and families again,
2 to collaborate, listen, and play even a small role in
3 finding effective treatments for these diseases.

4 The speakers and moderators I will be
5 introducing you to today share this passion for
6 facilitating the development of treatments for
7 patients with rare diseases. And I will tell you about
8 some of their stories throughout the day. Today's
9 agenda is packed with brilliant speakers that will
10 hopefully offer different stakeholder perspectives on
11 challenges and solutions in rare disease product
12 development.

13 The morning session will kick off with
14 Dr. Kathy Needleman, reporting on the successful
15 Orphan Products Clinical Trials, and Natural History
16 Grants Programs run out of our office. Following
17 Dr. Needleman's talk, Dr. Amy Abernethy, the Principal
18 Deputy Commissioner of Food and Drugs, will offer her
19 perspective on rare disease product development as
20 both an oncologist and FDA's Chief Information
21 Officer. After Dr. Abernethy, we will dive into our
22 first panel, focused on partnerships and

1 collaboration, within the rare disease product
2 development ecosystem. Our second panel will address
3 the importance of patient engagement, and specifically
4 include a discussion of the immense potential of
5 natural history studies in rare disease product
6 development.

7 After lunch, we are honored to have
8 live virtual remarks from the current acting FDA
9 Commissioner, Dr. Janet Woodcock. Our first afternoon
10 panel, we will discuss strategies that have allowed
11 researchers to continue to support rare disease
12 product development during the disruptive and
13 unprecedented COVID-19 pandemic. Our final panel of
14 the day includes the Center directors. And we are all
15 excited to hear directly from the leaders that shape
16 the regulatory decisions for the products that are
17 being developed to treat rare diseases.

18 After the last panel in the afternoon,
19 we will have an open public comment period. To
20 participate in that, you would have needed to sign up
21 prior to the meeting. Participation is on a first-come
22 first-served basis. Speakers will each have two minutes

1 to speak. After the open public period, Dr. Maynard
2 will provide closing remarks.

3 As for the rules of engagement for
4 today's meeting, we encourage all individuals to
5 contribute to the dialog, and we appreciate the
6 opportunity to hear your perspectives. The view
7 expressed are personal opinions. You can ask a
8 question by clicking the, "Ask a question," icon, or
9 by emailing OOPDorphanevents@FDA.HHS.gov. And we will
10 try to respond to them as many of them as time
11 permits.

12 For transparency purposes, when you are
13 sharing a comment, we ask that you please disclose if
14 you are affiliated with an organization or if you
15 have any significant financial interest in rare
16 disease medical product development. A public docket
17 will be open until April 2nd to submit comments. We
18 highly encourage you to so. A webcast recording and a
19 transcription of the meeting will be available on the
20 FDA meeting website following the conference.
21 Evaluation forms will be e-mailed to you following the
22 meeting.

1 After the meeting ends today, there
2 will be additional opportunities to interact with the
3 FDA. The Office of Orphan Products Development and the
4 Office of Patient Affairs are here, and want to stay
5 in contact with you, whether it is helping you stay
6 connected with other activities at FDA or addressing
7 any future questions you might have. This slide
8 contains our contact information. Additionally, for
9 media inquiries, please contact our press officer,
10 Jeremy Kahn. If you have any questions or are
11 interested in speaking with FDA about this meeting,
12 please connect with Jeremy. Also, if you choose to
13 Tweet about today's meeting, please use hashtag
14 #FDArare2021 -- that is right.

15 All right. Let's start the program.
16 First up we have Dr. Kathy Needleman, director of
17 OOPD's Clinical Trials and Natural History Grants
18 Program. She has dedicated much of her time at FDA
19 focused on orphan product development, starting in the
20 review divisions in the Center for Biologics
21 Evaluation and Research, or CBER, and the Center for
22 Drug Evaluation and Research, or CDER, and continuing

1 in OOPD.

2 Serving as the director of the Orphan
3 Products Grants Program has allowed her to use her
4 background interest and passion in rare disease
5 research. She works closely with project officers,
6 researchers, patients, and organizations, to advance
7 promising medical products for rare diseases or
8 conditions, to market approval, to increase
9 publications of significant findings in the scientific
10 literature, and to oversee the responsible use of
11 federal funds.

12 Dr. Needleman?

13 DR. NEEDLEMAN: Hi, everyone. And
14 thanks, Lewis, for the introduction. It is so great to
15 be here today to celebrate Rare Disease Day. Of
16 course, I wish we could all be here in person, but I
17 am happy to see so many of you have joined virtually
18 today. Next slide.

19 I am excited about today. We have some
20 great panels planned for you, as you have heard from
21 Dr. Maynard. We have many current and former orphan
22 product grantees on the agenda that will be

1 discussing their experiences with you, as well as
2 strategies to facilitate the development of treatments
3 for rare diseases, along with many FDA staff that
4 focus on these areas. They are excited to showcase
5 that for you today.

6 Although many of you are likely
7 familiar with FDA grant programs, I am here today as
8 the director of the Orphan Products Grants Program and
9 to give you some background and information about the
10 program, which has impacted several of the speakers
11 today. First, I will start with a brief background
12 about our office. Then, talk about the Clinical
13 Trials, as well as the Natural History Grants Programs
14 that are administrated by the orphan products office.
15 And conclude with COVID-19 impacts on the program and
16 studies. Hopefully, this will give you a foundation to
17 what we do, and who we fund. Next slide.

18 To start, the Office of Orphan Products
19 Development, or OOPD as it is often referred to, has
20 the mission to promote the development of drugs,
21 devices, biologics, and medical foods for patients
22 with rare diseases and special populations. OOPD has

1 several programs to provide incentives for rare
2 disease product developments. Specifically, we have
3 three designation programs that are listed here, that
4 provide and focus on incentives for drugs, biologics,
5 and devices.

6 We also administer three grant
7 programs. Specifically, Clinical Trials Grants
8 Program, the Natural History Grants Program, as well
9 as the Pediatric Device Consortia Grants Program. I am
10 going to focus my talk today on two of these,
11 specifically Clinical Trials, and Natural History
12 Studies Grants Programs. Next slide.

13 The Orphan Products Grants Program was
14 established back in 1983 to defray the cost of
15 developing drugs, medical devices, and medical foods
16 for rare disease or conditions. Specifically at that
17 time, there was little interest in investment in rare
18 disease product development, as there was little to
19 gain for many companies to pursue those areas. The
20 program started small but has continued to grow. And
21 the impact continues to affect more and more diseases,
22 patients, as well as products. It is one of several

1 grant programs that the FDA administers. But, one that
2 has specifically focused on rare disease product
3 development. Orphan Products Grants Program supports
4 both academic- and industry-sponsored research. We also
5 fund domestic, as well as foreign, public and private,
6 and for-profit and non-profit entities. One main
7 criteria to be eligible for the grant program is that
8 the disease being studied must be rare, and that is of
9 which affecting less than 200,000 people in the United
10 States.

11 This program is a competitive -- but it
12 also a very practical and unique -- program.
13 Specifically, the goals are very practical. We are
14 trying to advance marketing approvals to help get
15 treatments to patients. We also support publications
16 that impact the care for rare disease patients, and
17 support studies that help change guidelines for
18 treatment.

19 Being in the FDA makes this program
20 unique, in that FDA staff bring various expertise from
21 regulatory product development. And being situated
22 within the FDA allows us to use our relationship with

1 the Centers to ensure appropriate end clinical study.
2 Grantees work closely with medical product Centers,
3 and we want to ensure that what we find is not only a
4 good research study but focused on drug development
5 that will lead to an indication change or a new
6 approval. Next slide.

7 Since 1983 we have funded over 2,800
8 applications, we have provided over 440 million
9 dollars for more than 750 rare disease studies. And we
10 have a very good success rate, where about 95 percent
11 of our funded studies complete projects.

12 Currently our annual budget is about
13 17.7 million dollars, which we spend on both the
14 Clinical Trial and the Natural History Grants
15 Programs. The program has been quite successful
16 through the years, leading to over 75 FDA-approved
17 products that were, at least, partially funded through
18 the Orphan Product Grants Program for over 85
19 indications. In essence, about 10 percent of the
20 funded studies have been used towards approval. Next
21 slide.

22 Here are some examples of approved

1 products supported by the program. Some on here are
2 products that you are going to be hearing about today
3 from former grantees that utilized the program. Next
4 slide.

5 Let's move to some specifics about the
6 Clinical Trial Grants Program. Next slide.

7 As I mentioned, this was established
8 back in 1983 to help provide incentives to researchers
9 to study treatments for diseases that had little
10 interest in investment. Even today, although there is
11 more interest in rare diseases, the majority of rare
12 disease have no treatment options. Orphan Products
13 Grants Program offers funding to help de-risk
14 therapeutic development, so they can become more
15 attractive to potential partners like investors, who
16 will, then, more likely invest into these important
17 treatment options.

18 The Office of Orphan Products uses
19 about 15 and a half million dollars to fund ongoing
20 and new clinical trials in the Clinical Trials Grants
21 Program. The program provides a method of successfully
22 fostering and encouraging the development of new, and

1 safe, and effective medical devices, medical products
2 for rare diseases and conditions. And it also helps
3 support efficient product development in a timely
4 manner. It supports the clinical development of
5 products for use in rare diseases or conditions where
6 no current therapy exists, or the proposed product
7 will be superior than the existing therapy. OOPD
8 typically is funding anywhere between 60 and 85
9 ongoing grant projects at any one time. Next slide.

10 This slide shows you a breakdown of
11 what types of products we support. As you can see, the
12 majority of the products are supported by OOPD grants
13 are for drugs, about a quarter are for biologics. And
14 we also support device and medical food trials, as
15 well, as you can see in the percentages in the slide.
16 Next slide.

17 Most of Orphan Products Grants support
18 phase two clinical trials. We do support about a
19 quarter of phase one trials, that have a phase one
20 component. As well as about 20 percent that have a
21 phase three component.

22 Generally, most of our applicants are

1 academic researchers who have great ideas paired with
2 clinical observations, that use this for product
3 development and drug discovery. But we see many of
4 these academics also have collaborations with
5 companies, either at the time of their application, or
6 during the grant at some point.

7 We also support companies, as well. And
8 you can see we score about 25 percent of our funding
9 goes to companies. And these tend to be smaller
10 companies focusing on rare disease research.

11 Our goal is product development for
12 rare diseases and utilizing various expertise assists
13 in this goal. Next slide.

14 We currently have a clinical trial RFA
15 that has a receipt date in October 2021. We just had
16 our receipt date in October 2020 award cycle. The
17 purpose of this funding opportunity is to fund well
18 controlled studies in support of a new indication or
19 change in labeling of products to address unmet needs
20 in rare diseases. The focus is efficiency innovation,
21 as well as impact. We included added focus this year
22 on leveraging patient input and infrastructure, as

1 well as financial resources.

2 In addition, we added a new piece to
3 the proposal this year to focus on innovation.
4 Applicants were encouraged to submit an optional stand-
5 alone innovative demonstration project proposal in
6 addition to the application, that could be used as a
7 model for future drug development in one of the
8 following areas: innovative collaborations, innovative
9 patient recruitment and retention strategies, or
10 innovative methods for using data simulation and
11 modeling. Next slide.

12 Now, I am going to focus on the Natural
13 History Grants Program and provide some background.
14 Next slide.

15 This is a newer program to our office
16 and was launched in 2016 after hearing a great need
17 for good quality natural history studies in rare
18 diseases, and continually seeing specific aims added
19 to our Clinical Trials Grants applications that lacked
20 the needed funding, as well as focus. Its intent was
21 to support drug development for rare diseases in an
22 increased understanding of impact in courses of rare

1 diseases. The budget for these studies is about two
2 million dollars per year. And OOPD supports studies
3 that advanced rare disease medical product development
4 through characterization of a natural history of rare
5 diseases, identification of genotypic as well as
6 phenotypic sub-calculations, and the development or
7 validation of clinical outcome measures and biomarkers
8 and containment diagnostics.

9 We had another receipt date in 2018 for
10 the Natural History Grants Program, with a focus on
11 efficient and innovative natural history studies that
12 included patient and caregiver perspectives. From
13 those two receipt dates we were able to find eight
14 natural history studies. Next slide.

15 The studies are listed here, as you can
16 see. In 2017 we worked with NIH to co-fund two of the
17 six studies that we were able to support that year.
18 And you can see all the studies that we supported from
19 the beginning when we started the program through the
20 last receipt date we had. Next slide.

21 We took a look at our applications --
22 our last round of applications, to get an idea how to

1 further improve the impact of our program. We saw that
2 the applications were being submitted mostly by
3 academics, as you can see. And you can see the
4 breakdown of the main goals of the applications in the
5 bar chart, to the right of the slide. Disease
6 progression and biomarker development were the main
7 goals for the majority of the applications we were
8 seeing. And all of these goals, as well as the other
9 listed on the slide, are great focus areas in line
10 with what we wanted to see for that particular RFA.
11 However, we wanted to be sure we were using our funds
12 in the best and most efficient way for rare disease
13 drug development. Next slide.

14 So we developed a new RFA that was just
15 posted in February of 2021, right before Rare Disease
16 Day. It is currently on our website, as well as
17 available on grants.gov. The next receipt date will be
18 in February 2022. And the purpose is to support
19 efficient and innovative natural history studies that
20 advance medical product development and rare diseases
21 or conditions with unmet medical needs.

22 The focus on this RFA is efficiency

1 innovation, impact, as well as data, quality and
2 interpretability, leveraging patient input,
3 infrastructure, financial resources, and future use of
4 data. We focus this time on data quality, as this data
5 is highly important for the use in regulatory
6 development. In addition, encouraging efficiency,
7 innovation, and leveraging financial resources and
8 infrastructures are all important to ensure that the
9 funds can go as far as they are able, and helps to
10 ensure that the study has a good foundation to be
11 successful and useful in future product development.
12 Next slide.

13 So now, I am going to turn to talking
14 about the impacts of COVID-19, and the impacts it has
15 had on the studies we have funded, as well as the
16 program. Next slide.

17 When the pandemic began last
18 March -- unbelievably, already a year ago -- we
19 started hearing from many of our grantees about issues
20 with their study progress, and issues with the study
21 in general. OOPD began tracking these issues and
22 collecting data to see what issues and resolutions

1 were occurring. Some of the items we started
2 collecting are listed here; from study suspension, to
3 virtual capabilities, different types of protocol
4 amendments, monitoring that was being changed, a
5 plethora of things that were happening to our studies
6 and to all studies that were ongoing at that time.
7 Next slide.

8 We found about that from our 71
9 currently funded grants, at that time -- so there were
10 63 clinical trial grants and 8 natural history studies
11 at the time -- 79 percent were -- of those studies --
12 were impacted in some way by the pandemic. You can see
13 the areas listed here on the slide. The major impact,
14 of course, was enrollment delays. With sites being
15 closed to studies, travel being shut down around the
16 world, this was an inevitable issue. But other major
17 impacts included study suspensions with unknown times
18 to resume, protocol modifications that were needed to
19 adjust to virtual -- different virtual environments
20 that had not been used before, as well as other needed
21 study changes that were taking place to try to
22 continue that study moving forward.

1 Additionally -- other things are addition
2 of virtual capabilities and travel issues. And we saw
3 study completion delays that were occurring, and also
4 being projected for the study. And there were product
5 delivery issues that needed to be addressed. There
6 were changes in monitoring practices that needed to be
7 made. And there were changes in informed consent forms
8 as the studies' changes were being made throughout this
9 time. Next slide.

10 So OOPD wanted to assist in what ways
11 it could to address these issues. We have begun the
12 Orphan Grants Unite Initiative earlier in 2020, prior
13 to the pandemic. This initiative's intent was to
14 provide a forum for our grantees to share experiences
15 and challenges, to support common solutions in rare
16 disease research. It also was developed so we could
17 provide information of interest for our rare disease
18 researchers. The plan was to meet periodically, using
19 internal and external speakers, and include our
20 clinical trial and natural history grantees. The hope
21 was to have this forum so researchers can share
22 issues, address them using expertise of others, and

1 ultimately to help improve rare disease clinical trial
2 and natural history studies.

3 We had two Unite meetings planned for
4 2020, as a pilot for the initiative. But we ended up
5 having three meetings, with the last two meetings
6 focused specifically on COVID-19 issues. These
7 meetings allowed researchers to come together and
8 discuss immediate issues that they were facing during
9 the pandemic. The researchers come from all over the
10 country, the world, and their experiences, although
11 different by institution, had many common themes.
12 Helping find solutions, learning from what others had
13 done in terms of things, like, enrollment, and virtual
14 abilities, product delivery and other challenges to
15 address these issues. It was a great success for the
16 workgroup. And it was a great success for the
17 program, as well as for the grantees that came
18 together. Many advices were taken. And a workgroup
19 was actually formed from it to further evaluate and
20 document these items with the intent to publish a
21 paper on lessons learned in rare disease research.
22 Next slide.

1 In addition to the Unite response, as I
2 just mentioned, there were other ways that OOPD wanted
3 to help. So OOPD continued to allow for flexibility
4 for the funded studies, as well as for future funding.

5 OOPD also offered administrative
6 supplements to address unexpected increases in costs
7 in these ongoing trials. We saw several ideas that
8 would help these ongoing studies, and be successful,
9 as well as provide the ability to complete the trial.
10 Examples included supportive additional personnel in
11 lab studies, and cost for a less centralized testing
12 due to travel restrictions. Also, additional
13 computation informatics and telecommunication costs
14 that were needed because of the necessity to care for
15 patients remotely as well as costs to support cloud-
16 based imaging platforms, and IRB and additional
17 startup fees for new studies added to counterbalance
18 the waves we were seeing with the COVID outbreaks
19 around the country.

20 We plan to continue these impacts as
21 this landscape changes over time, including as the
22 vaccines roll out. Next slide.

1 So looking ahead, I mentioned our two
2 RFA's, our clinical trial and our natural history
3 studies, which will focus on efficiency and
4 innovation, but also on leveraging funding and patient
5 input. We will also be looking and evaluating
6 additional metrics to evaluate the success of the
7 program. And we will be continuing additional
8 collaboration with our grantees either through Unite
9 or through other means. Next slide.

10 In summary, there have been several
11 changes to the RFA to increase impact, promote
12 innovation, and learn from the past. We continue to
13 re-evaluate impact after RFA's. And we continue to
14 bring our grantees together with the FDA, and work
15 with other funders to help support rare disease
16 product development. Next slide.

17 In conclusion, OOPD has been successful
18 in contributing to product approvals for rare diseases
19 and leading to thousands of publications, regulatory
20 decisions, and standard-of-care changes. There is a
21 high need for high-quality clinical data, as well as
22 natural history data for rare diseases. And OOPD

1 continues to make changes to the grants programs to
2 increase this impact. A large need remains for funding
3 in the rare disease space. And we need to work
4 together to bring products to rare disease patients.
5 Next slide.

6 Thank you, all. And here is some
7 contact information for our office. I look forward to
8 hearing from our panels today to discuss these
9 important topics in rare disease. And I hope you all
10 enjoy the rest of the program today. Thank you.

11 Thanks, Lewis.

12 DR. FERMAGLICH: Thanks, Dr. Needleman.
13 Up next, we have a special guest to deliver opening
14 remarks. Dr. Amy Abernethy is an oncologist and
15 internationally recognized clinical data expert and
16 clinical researcher. As the Principal Deputy
17 Commissioner of Food and Drugs, Dr. Abernethy helps
18 oversee FDA's day-to-day functioning, and directs
19 special and high priority crosscutting initiatives
20 that impact the regulation of drugs, medical devices,
21 tobacco and food. As acting Chief Information Officer
22 she oversees FDA's data and technical vision and its

1 execution.

2 Dr. Abernethy?

3 DR. ABERNETHY: So, hi. And thank you
4 very much for having me today. I am honored to be with
5 you, again, here for Rare Disease Day. At FDA this is
6 a very important day for us, as I know it is a very
7 important day for all of you.

8 As reflecting on the opportunity to
9 give comments today, and it happened to be that I was
10 also putting away holiday cards -- and I was putting
11 away the cards and ran into a little note from a
12 friend of mine. It is a picture of him and his wife
13 and their new baby, and told about all of the good
14 holiday cheer, with also a sad face for hashtag 2020.
15 And I thought about how I got to know him.

16 About 2008-2009 we had both been called
17 from Durham, North Carolina to Washington. I was a
18 professor of medicine at Duke. And he was, actually, a
19 recent Duke graduate. He told me that he was working
20 in one of the labs at Duke, and I was curious about
21 what he was working on. And he said, "My tumor." And
22 over the time of when we were giving talks together

1 in Washington, I learned a lot about his story,
2 including having a rare cancer, flying all over the
3 country to try and find surgeons that might understand
4 his cancer, wanting to participate in clinical studies
5 and registries, but not having really the access to
6 such studies, and the fact that there were, really,
7 very few labs in the country working on this problem.
8 But he had found one at Duke.

9 Over the course of that period of time,
10 this, at the time, young -- and he is now -- you know,
11 a decade or more older -- remarkable person sitting next
12 to me, really galvanized, for his particular disease,
13 chordoma, a remarkable journey. They developed a
14 national registry program, tumor banks. They not only
15 developed the scientific underpinning, but basically
16 sparked the science that led to clinical trials and
17 evaluating new drug options. They developed a patient
18 community and a peer support network, and patient
19 navigation activities all through a foundation -- a
20 vision -- and a community working together.

21 The other thing I remember sitting and
22 talking to him about one very cold, sunny day, outside

1 in New York City, was that figuring this out for that
2 rare disease could not just be the end of the story.
3 That ultimately, in trying to figure this out for a
4 rare cancer like chordoma, they needed to template the
5 process to create common road maps so that other rare
6 disease areas could also learn and benefit from the
7 work. Basically, be able to repeat the playbook on how
8 to build a tumor bank. And repeat the playbook on how
9 to build peer networks.

10 And one of the things that really had
11 struck me about the conversation was that, seeing
12 beyond his story and trying to figure out how to beat
13 and address his own disease, he was actually asking
14 the question, "How do we do this at scale?" And I
15 think that, today as we talk about rare diseases and
16 we think about rare diseases in America and around the
17 world, one of our critical questions together is, how
18 to address rare disease at scale?

19 So let's step back for a second and
20 talk about rare diseases. As we think about rare
21 diseases in America affects 30 million people in the
22 United States. But, obviously many, many more people

1 around the world. In cancer -- I am an
2 oncologist -- in cancer care, we often talk about rare
3 disease affecting 30 percent of all of our cancer
4 types. So on one side, rare diseases are rare. On the
5 other side, rare diseases in aggregate are common. The
6 challenge we got is the complexity. There is a
7 commonality to many different rare diseases being the
8 story. But the complexity of difference in underlying
9 causes and underlying biology. Differences in a
10 remarkable, vast array of different approaches to
11 treatment. Incredible differences in symptoms and
12 experiences. And differences that all of you
13 experience as families -- as people who worry about
14 what this going to look like tomorrow. Differences in
15 what the natural history looks like in the overall
16 story.

17 So part of the story of rare diseases
18 is that of complexity. And so, as part of that
19 challenge, we have to address rare diseases one
20 disease at a time. Chordoma -- the young man's disease
21 that I was talking about before -- is different than,
22 for example, a genetic childhood illness. And we

1 have to do each of these differently.

2 However, as we think about the dizzying
3 array of all of the diseases to address, we can also
4 think about the remarkable commonalities of what we
5 can build together -- common infrastructure. I think
6 about, again, that sunny day -- cold day, in New York
7 City, and when he was saying to me, "You know, what we
8 really need to do is take what we have learned to do
9 and template it and create road maps for the future."
10 And I think that, here, today, on Rare Diseases Day,
11 what we are doing is talking about some of the
12 elements of the road map.

13 One of the things I always think about
14 problems like this, is that hard things are hard, but
15 man oh man are they worthwhile for us to work on them
16 together.

17 So that, kind of, brings me today's
18 day. You are hearing about a lot of the work that we
19 are doing at FDA. This is a part of our American
20 response to addressing the importance of rare
21 diseases. And it is also a part of our American
22 response that has got worldwide impact. You are going

1 to hear about a number of programs, including, for
2 example, the grant program that you just heard about.
3 You are going to hear about infrastructure that is
4 being built at FDA to try and scale our internal work
5 so that we can serve as many of you as possible. You
6 are going to hear about our work to support clinical
7 development and accelerate the process of helping
8 companies who are developing innovating products, get
9 them to people who need them, provided that they are
10 appropriately safe and effective. And you are going to
11 hear about the incredible commitment of all of the
12 people at FDA across the rare disease community within
13 our families, to figuring out how we do this better
14 every day.

15 As a part of the FDA community, as a
16 part of the global community of families, and as a
17 friend who was just sitting there in New York City
18 listening about what the future is going to look like,
19 I say thank you for all that you do, and this is
20 really important work. Hard things are hard, but
21 worthwhile. And on it -- here we go.

22 DR. FERMAGLICH: Thank you,

1 Dr. Abernethy. Next up we have our first panel, Rare
2 Disease Partnerships, Collaborations and Scientific
3 Advancements. And our moderator will be Dr. Suzie
4 McCune, the director in the Office of Pediatric
5 Therapeutics, in the Office of the Commissioner at
6 FDA.

7 As a neonatologist, most of
8 Dr. McCune's patients had what would be considered
9 rare diseases. Her frustration at not having adequate
10 therapies was highlighted for her when a new drug was
11 approved for the treatment of asthma that she thought
12 might be promising for use in a patient with a rare
13 neonatal lung disease, called bronchopulmonary
14 dysplasia, or BPD, based on the mechanism of action.
15 But there was not any data in the neonatal population.
16 Almost 15 years later, a clinical trial was done that
17 showed that the drug was not effective in reducing
18 moderate or severe BPD, despite animal models that
19 there should be a benefit.

20 As Dr. McCune said, "We struggle with
21 this issue all the time in neonatology. The failure of
22 clinical trials. We need to better define the study

1 populations, the study endpoints, and the trial
2 designs, so that we can provide better care for our
3 neonatal patients."

4 Dr. McCune?

5 DR. MCCUNE: Thank you, so much,
6 Dr. Fermaglich, for that very kind introduction and a
7 reminder of my neonatology background. And I really
8 miss taking care of all of those patients. I am very
9 honored to be a moderator today for Rare Disease Day
10 2021.

11 I want to welcome everyone to the first
12 panel of the day. And as Dr. Fermaglich mentioned, I
13 am Susan McCune, and the director of the Office of
14 Pediatric Therapeutics, in the Office of the
15 Commissioner at the FDA. For the next -- almost an
16 hour, we are going to be focusing on rare disease
17 partnerships, collaborations, and scientific
18 advancements.

19 The goal of the session is to provide
20 perspectives on successful partnerships to support
21 rare disease product development. Our speakers will
22 outline the importance of working with rare disease

1 stakeholders to ensure that scientific advancements
2 support the development of rare disease products.

3 I am going to introduce all of
4 the -- and I -- the original goal of our session --
5 sorry I skipped over that -- is to provide
6 perspectives on successful partnerships to support
7 rare disease product development.

8 I am going to, first, introduce all the
9 panelists. And then, we will have presentations by
10 Mr. Kroslowitz and Dr. McCormack. The presentations
11 will then be followed by a general panel discussion
12 with all the panel members.

13 So let me first introduce our guest
14 panel of experts. First, Mr. Kroslowitz is the
15 president and CEO of Berlin Heart Inc. Next, we will
16 hear from Dr. Frank McCormack, who is a professor of
17 medicine, director of the Division of Pulmonary
18 Critical Care and Sleep Medicine at the University of
19 Cincinnati. And then, after these two presentations,
20 Mr. Kroslowitz and Dr. McCormack will be joined on the
21 panel by Dr. Vasum Peiris, who is the Chief Medical
22 Officer and Director of Pediatrics and Special

1 Populations in the Center for Devices and Radiologic
2 Health, or CDRH, at the FDA, and Dr. Sally Seymour,
3 who is the director of the Division of Pulmonology,
4 Allergy, and Critical Care, or DPACC -- D-P-A-C-C --
5 in the Center for Drug Evaluation and Research, CDER,
6 at the FDA.

7 As we are going along, I would ask that
8 all of our folks that are watching today think about
9 any questions that you have for the panel and send
10 those along to us so that we can address those during
11 the panel discussion.

12 So let's get going. Mr. Kroslowitz, the
13 floor is yours.

14 MR. KROSLowITZ: Thank you, Susan, for
15 the kind introduction. And good morning. Are my slides
16 up? Hello?

17 DR. MCCUNE: I am sorry. I think maybe
18 the question was to me. Yes. We can see your slides.
19 Thank you very much.

20 MR. KROSLowITZ: Oh. Okay. Sorry. I
21 cannot. Okay.

22 I would like to thank the organizers

1 for inviting me to participate today. Next slide,
2 please.

3 While preparing for this presentation,
4 I thought I should be sure about the definition of a
5 rare disease, which I found is mostly based on
6 prevalence. In the U.S., a rare disease is defined as
7 a disease or condition that affects fewer than 200,000
8 individuals. And in Japan, is any disease that affects
9 fewer than 50,000 individuals. The definition,
10 however, that most aligns with the title of this
11 conference -- Rare Disease Partnerships,
12 Collaborations, and Scientific Advancements -- comes
13 from the European Union, who defines a rare disease as
14 any life threatening or chronically debilitating
15 disease, which are of such low prevalence that special
16 combined efforts -- or in other words, partnerships
17 and collaborations -- are needed to address them. Next
18 slide, please.

19 Berlin Heart is a company that produces
20 and markets innovative systems for cardiac support.
21 With our EXCOR adult and pediatric ventricular assist
22 device systems, we are the only company in the world

1 offering durable mechanical circulatory support
2 systems to support patients of every age and size,
3 from newborns to adults. In the U.S. we focus our
4 efforts on the pediatric system, which is intended to
5 provide mechanical circulatory support as a bridge to
6 cardiac transplantation for pediatric patients with
7 rare forms of heart failure. We support approximately
8 500 of these patients annual worldwide. Next slide,
9 please.

10 The true global incidence and
11 prevalence of heart failure in children is difficult
12 to estimate, due to the lack -- as with other rare
13 diseases -- of standard definition. The most common
14 causes of heart failure in the pediatric population
15 are congenital heart diseases, which affect 25 to 75
16 percent of children with heart failure, and
17 cardiomyopathies, predominantly dilated
18 cardiomyopathy. The reported incidents of heart
19 failure in children is up to 7.4 per 100,000, with
20 heart failure-related hospitalizations occurring in 11
21 to 14,000 children annually in the U.S. Next slide,
22 please.

1 Treatment of this disease comes at
2 significant cost, with the average cost for admission
3 for a child with heart failure, reaching \$180,000. A
4 staggering number when compared to the cost of
5 admission for acute appendicitis, a much less severe
6 and easily addressed condition. For children who
7 require more invasive therapies to treat their heart
8 failure, costs can exceed \$700,000 per admission,
9 depending on the length of stay. A recent analysis by
10 one of the leading children's hospitals suggests that
11 total cost for pediatric heart failure in the U.S. is
12 nearly one billion dollars annually. Next slide,
13 please.

14 Nearly all of these children with heart
15 failure will eventually need one or more medical
16 devices, including stents, pacemakers, implantable
17 defibrillators, or VADs. Here, we see a pacemaker
18 that, while appropriate in size for the smaller
19 patients, is not approved for them. For children with
20 heart failure, innovation exists, however, incentives
21 do not. In the end, cardiac transplantation is the end
22 game for nearly all of these patients. Next slide,

1 please.

2 However, while the number of children
3 listed for transplant over this nearly 20-year period
4 has grown significantly, the number of children
5 actually being transplanted has remained steady during
6 the same time period. With this being the case, the
7 development and approval of devices to sustain
8 children with rare disease in their families is
9 critical.

10 But why would any innovator embark on a
11 process that requires such a significant effort with
12 an uncertain return on investment? For many medical
13 device innovators, it just does not make business
14 sense to enter what is perceived to be a small market.
15 The approval timeline for Berlin Hearts Pediatric
16 VADs system spans a 20-year period, beginning with a
17 HUD request in 2000, and a completion of the PMA post-
18 approval surveillance just last year. While we were
19 fortunate to benefit greatly from one of the FDA
20 Orphan Product Grants early on, our long-term success
21 has been made all possible only by our perseverance
22 and the philanthropic mission of our investor.

1 For Berlin -- next slide, please.

2 For Berlin Heart, the perseverance paid
3 off for both the company and the patients that we
4 treat. This was demonstrated in a paper published in
5 2015, by David Morales, who showed that after the
6 availability of Berlin Heart's pediatric VAD in the
7 U.S., the situation for the most critically ill
8 children with heart failure improved greatly. Despite
9 an increase in the number of patients with the most
10 urgent status listed for cardiac transplant, there was
11 more than a 50 percent reduction in transplant wait
12 list mortality for pediatric patients who were supported
13 with an assist device while waiting for a donor organ
14 to be found. Additionally, children supported with a
15 VAD were four times more likely to survive the
16 transplant. Next slide, please.

17 Yet, with the continuing and growing
18 need for additional medical devices for children with
19 heart failure and other rare diseases, progress is
20 described as slow. The HDE regulatory pathway, which
21 was developed to encourage the development and
22 approval of medical devices for small populations with

1 rare diseases, lifted the pediatric HDE profit
2 restrictions in 2007, to further encourage the
3 development and approval of pediatric specific
4 devices. However, since that time, only six pediatric
5 specific HDE approvals have been granted. I would
6 argue that we need to design programs that really work
7 for children with rare diseases. Next slide, please.

8 The fundamental challenges of pediatric
9 medical device development were verified at a public
10 FDA meeting in 2018, when 76 percent of the attendees
11 reported return on investment as the dominant barrier
12 to entering the market. Low development incentives,
13 limited market access, no guaranteed reimbursement,
14 regulatory complexity, and evidence generation
15 challenges were the most common factors reported
16 influencing the potential return on investments. Next
17 slide, please.

18 When presenting the feedback from this
19 meeting, one of my co-panelists, Dr. Vasum Peiris,
20 challenged us with this statement, "Imagine a world in
21 which children had access to innovative medical
22 devices at the same time as everyone else, a world

1 where medical devices are designed and evaluated for
2 their unique needs, a world with the right ecosystem
3 that supports explorers and innovators to engage,
4 sustain, and innovate in the pediatric medical device
5 market." This world really does not exist. It probably
6 seems as close to getting to Mars or trying to
7 populate Mars. I think we can get there. We just have
8 to take the right steps. Next slide, please.

9 Not only did Vasum come to us with a
10 challenge, he came to us with a plan for a national
11 ecosystem for pediatric medical devices. The system of
12 hospitals for innovations and pediatric medical
13 devices, or SHIP-MD. Next slide, please.

14 SHIP-MD is designed as a framework for
15 innovation in pediatric medical device development
16 with a vision to increase and accelerate safe and
17 effective device development by focusing on innovation
18 for children. With all stakeholders at the table,
19 including regulators, reimbursement specialist,
20 innovators, industry investors, the medical community,
21 and the hospitals that serve these children, we hope
22 to develop a shared, transparent, public/private

1 sector solution, with a transformative and
2 collaborative strategic approach to foster a robust
3 pediatric medical device ecosystem that will be primed
4 to de-risk and accelerate medical device development
5 for children, and address the dominant barrier to
6 entering the pediatric medical device market by
7 developing innovative solutions. Next slide, please.

8 Focused on creating a safe environment
9 for innovation, the foundation of SHIP-MD will be a
10 dynamic, adaptive, and sustainable evidence-generating
11 infrastructure, made of qualified hospitals with the
12 necessary expertise and experience to safely evaluate
13 novel technologies for children. Engaging the expert
14 review of these novel technologies and exploring the
15 potential for novel regulatory pathways. Next slide,
16 please.

17 Companies developing pediatric medical
18 devices that improve care options for children may
19 apply to engage the many potential benefits of
20 SHIP-MD. Whether it is a standardized single contract
21 to access the qualified hospital network,
22 collaborative development of a clinical trial that

1 efficiently achieves regulatory and reimbursement
2 endpoints, integrated single IRB review, or strategic
3 engagement with regulatory and reimbursement
4 organizations, SHIP-MD will simplify, streamline, and
5 improve the pediatric medical device development
6 process by aggregating incentives, eliminating
7 barriers, and transforming traditional business
8 thinking related to pediatric device development. Next
9 slide please.

10 SHIP-MD will be guided by those who
11 understand pediatric medicine, pediatric device
12 development, and the care of children. Together we
13 will create an ecosystem that inspires innovators for
14 the benefit of our children. Imagine a world in which
15 children have access to innovative medical devices at
16 the same time as everyone else. This world really
17 doesn't exist. It probably seems as close to getting
18 to Mars. I am sure we can get there, as we have now
19 started to take the right steps.

20 Thank you, very much.

21 DR. MCCUNE: Thank you very much,
22 Mr. Kroslowitz, for an excellent talk, and for kicking

1 us off in terms of consortia efforts. And next, we
2 will hear from Dr. McCormack.

3 Dr. McCormack?

4 DR. MCCORMACK: Thank you, Dr. McCune.

5 And thank you for inviting me to be part of this
6 wonderful day.

7 Today I am going to be talking about
8 partnerships that have led to an effective treatment
9 for LAM, a rare lung disease. Next slide, please.

10 LAM is also known as
11 lymphangiomyomatosis. And that is a 24-letter word
12 that I will only say once. This is a progressive, low
13 grade, metastasizing neoplasm of young women that is
14 characterized by smooth muscle cell infiltration and
15 cystic destruction of lung tissue. And these extra
16 pulmonary cells that move to the lung have activating
17 mutations and tuberous sclerosis genes. Now, that is a
18 lot of description for what is essentially a form of
19 emphysema in young women. Next slide, please.

20 The average age of diagnosis for LAM is
21 35 years. But patients have been reported in age
22 ranges from three to 85. And it occurs in women much

1 more frequently than men. The rate in decline in lung
2 function is typically about three to five percent per
3 year. And the disease course is such that 10 years
4 after symptom onset, 55 percent of patients are
5 breathless, 20 percent are on oxygen, and 10 percent
6 of patients are deceased. The median survival varies
7 in the literature between eight and a half and 29
8 years, depending on how the patients are ascertained.
9 Either in hospital environments or population-based
10 studies. And there is no cure.

11 These images depict a young woman who
12 was perfectly healthy until she discovered she was
13 pregnant by a home pregnancy dipstick test, and the
14 next day suffered a pneumothorax, or collapsed lung
15 that persisted throughout her entire pregnancy. In
16 fact, she developed a contralateral -- or a
17 pneumothorax on the other side a few days later, after
18 the first one. And they did not heal. These holes in
19 the lung did not heal until this term infant was born.

20 Her lung function had deteriorated so
21 much over the course of the pregnancy that she
22 required a lung transplant about a year after

1 delivering her daughter. And she is shown, here, on
2 the bottom picture, a little bit cushingoid from
3 prednisone treatment for her transplant, along with
4 her three-year-old daughter. Next slide, please.

5 The LAM foundation was an organization
6 that was founded in 1995 by a family in Cincinnati, a
7 music teacher and a football coach and history teacher
8 at Princeton High School whose daughter was discovered
9 to have LAM at the age of 22. And over the course of
10 the last 25 years, this organization has raised almost
11 30 million dollars and devoted 17 million dollars to
12 research. And this is a disease that affects only
13 about five women per million. The foundation has
14 registered about 3,000 patients. And this small group
15 of individuals has raised this enormous sum.

16 You can see in the bottom, one of the
17 conferences that has been held for LAM every year in
18 Cincinnati with support from the NHLBI, which usually
19 attracts about 150 LAM patients, and about 150
20 investigators and scientists. It is a very unique
21 meeting where science is discussed in great depth.
22 Patients are welcome at those sessions. And the

1 clinicians and scientists also update the patients in
2 a parallel tract. It provides for a lot of interaction
3 between patients and scientists, and it has been a
4 major motivator. Next slide, please.

5 So the road map for LAM is the same as
6 it is for many rare diseases. In the beginning, Sue
7 Burns and her husband organized the patients in a way
8 that facilitated research, engaged and motivated
9 scientists. We found ways to fund research. We engaged
10 the NIH and pharma, by traveling to the NIH and
11 requesting a registry. We established -- the gene was
12 discovered for LAM in the early -- or the late 1990's
13 and early 2000's. That led to knowledge about the
14 protein and the pathway involved, and a very promising
15 target. When we had established a pathway for trials,
16 patients volunteered. Pre-clinical studies were done,
17 followed by a pilot trial and a pivotal trial ending
18 in the discovery of an effective therapy, all within
19 about 10 years. Next slide, please.

20 One very fortunate development was that
21 we were able to achieve -- or receive a grant from
22 NCATS and the NIH to form a rare disease consortium of

1 clinics around the world that followed patients with
2 LAM and other rare diseases. And, as you can see, in
3 this network we were following, roughly, 3,600
4 patients with LAM, as well as a number of diseases
5 that also were -- had prevalence well below one in
6 200,000.

7 The principal investigator of this
8 grant was Dr. Trapnell, and I was the Co-PI. And
9 Dr. Lisa Young and Nishant Gupta were also major
10 co-investigators and PI's on this grant. Next
11 slide, please.

12 Around 2000, the mutations that were
13 responsible for tuberous sclerosis were discovered.
14 And then, soon thereafter, they were linked to LAM.
15 These occur on chromosomes nine and 16. The functions
16 of these genes were not apparent at first. But in
17 parallel experiments done in a laboratory in San
18 Francisco, it was determined that these genes control
19 the size of cells in the fly eye. And subsequently,
20 were also found to control cell growth and cell
21 movement. And that focused our attention on the --
22 signaling pathway in this disease. Next slide, please.

1 So at this time, we had an organized
2 patient population and a very promising target. We
3 approached pharma and were told that there really was
4 not enough of a market to consider conducting a trial
5 through a pharmaceutical route. So a number of us
6 decided to form a consortium of physician scientists
7 interested in developing a treatment for LAM. There
8 were a total of 13 sites in three countries -- Japan,
9 United States, and Canada -- that engaged physicians,
10 scientists, and experts in quality of life, radiology,
11 pathology, and statistics, to conduct this trial. Next
12 slide, please.

13 The hub for this trial was the
14 University of Cincinnati. The sites around the United
15 States are shown. Most enrolled less than 10 patients.
16 Cincinnati, NIH, and Osaka enrolled from 10 to 19
17 patients. But for the most part, the other sites
18 enrolled somewhere between one and seven. The data
19 center was located at the University of South Florida
20 with Jeff Krischer leading. Next slide, please.

21 And the end result to the trial was for
22 patients who were on placebo, they lost about 11

1 percent of their lung function in the first year, for
2 patients who took the active agent, sirolimus, in this
3 randomized controlled trial, their lung function did not
4 change over the course of the year. The statistical
5 difference -- this was a highly significant
6 statistical difference.

7 In the second year, when the drug was
8 withheld, the sirolimus group began to decline at the
9 same rate as the placebo. So it was apparent from this
10 study that sirolimus effectively suppresses lung
11 function decline in LAM, and that it -- for continued
12 benefit, you have to have continued use of the drug.
13 So it is a suppressive therapy, which like we use for
14 hypertension and depression, it does not cure the
15 disease, but while you are taking it, it stabilizes
16 lung function. Next slide, please.

17 So on the basis of this result, the FDA
18 approved sirolimus for LAM in 2015. And it is now
19 approved in 40 countries, including Japan and the EU,
20 many countries in South America. And roughly, 40 to 50
21 percent of LAM patients in the United States and Japan
22 are now taking sirolimus. Those are the two countries

1 where we can get excellent data on current use.
2 Sirolimus is well-tolerated, and lung function
3 stabilization is durable. It has been quite a game-
4 changer for our patient population. Next slide,
5 please.

6 It is useful just to review the
7 partnerships and the timelines associated with this
8 trial. The genes that were discovered to be mutated to
9 LAM appeared in 2003. We achieved a -- we obtained an
10 FDA IND in 2005. It took us roughly three and a half
11 years to get all the approvals from all sites, and
12 about three and a half years to enroll all patients in
13 the trial. So a total duration of the trial of about --
14 of over seven years. The result was published in 2011,
15 in the spring. And FDA approval occurred in mid-2015.
16 We had partnerships from the NIH, from NCATS, the FDA
17 Orphan Drugs Program provided us with a very important
18 grant, Pfizer provided the drug and some study funds,
19 the governments of Canada and Japan contributed, the
20 LAM foundation contributed half of their net worth --
21 about half a million dollars at the time. So it was
22 through these partnerships that this eight and a half

1 million dollar trial was possible. Next slide, please.

2 I think it is also useful to review the
3 timeline for FDA approval, because we had a lot of
4 help with this process. So when this trial was
5 published, we approached Pfizer and asked them to
6 consider pursuing an FDA indication. They gave it a
7 lot of thought. They said that it really did not make
8 sense from the patent timeline, or the market size.
9 And they initially declined. But we approached the FDA
10 about submitting a citizen's petition to compel
11 changing of the label, without the need for the
12 company to participate. On the basis of that review,
13 the FDA invited Pfizer to come forward and suggested
14 that they might consider submitting for an indication,
15 because they thought the trial results were compelling
16 enough that they could support that change.

17 We had a lot of help from the FDA with
18 planning the path to FDA approval with orphan drug
19 designation, breakthrough designation. All suggested
20 by the FDA and submitted with Pfizer as the sponsor.

21 The NSDA -- or the new drug application
22 as submitted in -- about Christmas day in 2014. And

1 six months later the FDA approved the drug for the use
2 in LAM. Many approvals followed. It is really shown as
3 the power of what an FDA approval can do for drug
4 approval in other countries. And in many of those
5 countries, there is no access to the drug without
6 government approval. So this provided first time ever
7 access to the drug for patients in Japan, many Asian
8 countries, many South American countries. Next slide,
9 please.

10 So these are what I view as the six key
11 ingredients that resulted in an FDA approval for
12 sirolimus in LAM. And it begins with the courage and
13 resolve of patients. The patients had the foresight to
14 organize and facilitate and fund research. And then,
15 they lined up for trials.

16 Funding of excellent research, blind to
17 immediate relevance. The major breakthroughs in this
18 field occurred from research in flies, worms, and
19 rodents, that were not immediately linked to human
20 disease, but which rapidly elucidated molecular
21 targets.

22 The power of academic health centers.

1 The expertise, flexibility and resources of multiple
2 major academic health centers made this trial
3 possible.

4 Altruism of medical care givers,
5 lawyers, Pfizer, and the FDA. Many -- each of these
6 domains donated large amounts of time and efforts.

7 Advocacy by the LAM Foundation was
8 pivotal. They brought the patient voice to every
9 conference table.

10 A government regulations act, such as
11 the Rare Disease Act of 2002, provided the
12 infrastructure that made an international investigator
13 initiated trial possible in LAM through NCATS years
14 later. And the infrastructure and guidance we got from
15 the Rare Lung Disease Consortium supported by the
16 NHLBI, NCATS -- and the willingness of multiple
17 agencies to contribute partial support for this trial,
18 including the FDA, governments of Japan and Canada,
19 the LAM Foundation, the Tuberous Sclerosis Alliance.
20 Each of them was willing to contribute in a partial
21 way to make this trial happen. Next slide, please.

22 So there are many acknowledgments.

1 NHLBI and NCATS for the rare lung disease consortium
2 with Steve Groff, and now, Chris Austin at the helm.
3 The Miles principal investigators and site teams, the
4 LAM Foundation leaders Sue Burns, and now, Sue
5 Sherman, the Translational Research Trials Office here
6 at Children's Hospital, FDA Pulmonary, Allergy, and
7 Rheumatology Branch, with special help from Sally
8 Seymour and Badrul -- Chowdery -- or Chowdery, FDA
9 Orphan Products -- Kathy Needleman was very helpful
10 with obtaining this grant back over 10 years ago --
11 people at Pfizer, especially Sandy Seathie [ph] and
12 Elly Katz [ph] who helped us navigate use of this drug
13 in this investigator-initiated trial, and all other
14 sponsors. And next slide.

15 And the most important acknowledgements
16 are here. So these are the 89 patients who signed up
17 for the Miles Trial. And what you have to realize is
18 every one of these patients knew that this drug was
19 promising, and that they could go to their physician
20 and get a prescription and start taking this drug
21 immediately. But all of them signed up for a two-year
22 course of the trial, during which they knew they may

1 be declining at the rate of 10 percent per year in
2 their lung function. So it took a lot of courage to
3 stick this trial out to the endpoint. And in the end,
4 it led to a result that will help LAM patients for a
5 long time to come.

6 So thank you, very much, for your
7 attention.

8 DR. MCCUNE: Thank you, so much,
9 Dr. McCormack, for an outstanding talk. I would like
10 to invite Dr. Peiris and Dr. Seymour to join
11 Mr. Kroslowitz and Dr. McCormack on screen for our
12 panel discussion.

13 Thank you, so much, for the background
14 information in the device space on SHIP and in the
15 therapeutic space in the LAM Consortium. I have also
16 been involved over the last five years with the
17 International Neonatal Consortium. So these are all
18 very powerful entities for us to be able to move
19 forward, both in the device space and the therapeutic
20 space. And it is nice to be able to join Dr. Peiris
21 with Mr. Kroslowitz, as was already mentioned in the
22 talk. And Dr. Seymour with Dr. McCormack, as was

1 mentioned in his talk, as well.

2 So I am going to open up the
3 discussion. And please, for anyone who is listening,
4 if you have questions for our panel, please submit
5 those and we will follow up with those as we get them.

6 But my first question for each of you
7 is that, clearly you have all been engaged in very
8 successful consortia efforts -- and as Dr. Abernethy
9 talked about this morning, we need this roadmap to be
10 able to move forward -- and based on your experiences,
11 can you discuss, kind of, the whole entity of
12 consortia? In other words, from start to finish? How
13 do you start or begin a consortium? How do you ensure
14 successful continuation or engagement of that
15 consortium? And then, how do you ensure meaningful
16 deliverables that you all have already pointed out?
17 But how do you continue to deliver those kinds of
18 meaningful deliverables? So I will open it up -- who
19 would like to start?

20 MR. KROSLWITZ: Vasum, I think it
21 would be a great place for you to jump in.

22 DR. MCCUNE: All right. All right.

1 Dr. Peiris, you are up.

2 DR. PEIRIS: Thanks, Bob. It is a great
3 question, number one, Suzie. And thank you to all the
4 organizers today for inviting me to the event. And
5 this has been going so well so far.

6 Starting a collaboration. It is a big
7 process. And there is, obviously, a huge need for us
8 to actually work together and leverage the comparative
9 advantages of so many stakeholders in the ecosystem to
10 be able to address these long-standing public health
11 needs in rare diseases and in pediatrics. With respect
12 to starting SHIP, as Bob eluded to -- I think you
13 provided a quote from me from a presentation that I
14 gave when I was in Houston a few years. And again, the
15 reason that, that is important is because back then, I
16 was trying to develop the plan, clarify the
17 information, and the issue to all the interested
18 stakeholders wherever I was speaking.

19 After that, we -- you know, we had the
20 public meeting. We went through the issues and
21 clarified the issues during the public meetings. We
22 discussed strategies for overcoming -- or, at least,

1 mitigating some of those issues during the public
2 meeting. And then, we developed the strategic
3 framework -- the SHIP-MD strategic framework. And once
4 that framework was originally developed, I think
5 vetted that framework amongst a number of different
6 stakeholders across the country to get their feedback
7 from different vantage points -- different
8 perspectives -- from hospitals, from payers, from
9 financiers, from industry, and others.

10 And once we had that feedback from so
11 many, and a representation that this was a good idea
12 to move forwards with, we were then able to get some
13 funding. And then, be able to establish what many have
14 seen recently, which is the leadership of continuing
15 development of the framework in phase one, via C-Path.
16 And hopefully, many of you were able to -- or, at
17 least, some of you were able to join our workshop on
18 the SHIP-MD framework, to get additional broader
19 stakeholder feedback, and continuing vetting the
20 framework to figure out if we need to iterate on it.

21 That initial step was an initial step.
22 But it is a big step. And the great aspect of this is,

1 now, we have the majority of stakeholders in the
2 pediatric and small population device space aligned
3 around a message and a strategic path forward. We are
4 not all speaking at it from different angles, because
5 all of those angles are important. We are now bringing
6 all of those perspectives together. We are clarifying
7 a path forward. And now, we are able to take those
8 steps forward, together.

9 DR. MCCUNE: Thank you, so much,
10 Dr. Peiris. I just would like to follow up with
11 Mr. Kroslowitz as a member of the SHIP and thinking
12 about how this can help in the Berlin Heart Space. How
13 do you view your role and the importance of the SHIP
14 Consortium?

15 MR. KROSLowitz: I mean, I think this
16 is really a very, very important project. This is
17 really important work. And finally, to be able to
18 bring everybody to the table that is really necessary
19 to move the field forward, has been an amazing feat. I
20 think Vasum really deserves tremendous accolades for
21 having to -- having done that, and gotten everybody to
22 the table, and really to move forward with a common

1 goal, right?

2 I mean, it is important whenever you
3 are bringing people together to work on a project, or
4 to collaborate, that you set goals that are
5 achievable, and in the end, meaningful. And I think
6 that is exactly what is happening with the SHIP. And I
7 have no doubt that this program will be successful and
8 will be very meaningful. Especially, in the space of
9 pediatric medical device development.

10 DR. MCCUNE: Thank you very much.

11 Dr. McCormack, I would like to open it up to you in
12 the therapeutic arena. You know, your thoughts in
13 terms of the -- what has made your consortium
14 successful and how you continue that moving forward?

15 DR. MCCORMACK: I think I -- there are
16 a number of key elements. And it mostly comes down to
17 what -- knowing what motivates people. So what
18 motivates patients, I think, is having a physician who
19 cares about them, and who understands their disease
20 and is -- or is willing to learn about their rare
21 disease. But even more than that, they want hope. And
22 what they may not realize right off the bat is

1 research is the source of hope for these rare
2 diseases. Sometimes that takes a fair amount of
3 education. But over time, the LAM community became
4 very aware of how important research was to the
5 ultimate success of the drug that is now used in
6 treatment of the disease.

7 And knowing what motivates federal
8 health agencies. They are all interested in improving
9 the health of their public and being responsive to
10 patients. They like to see progress -- or, at least,
11 the promise of progress. And they want to do good, like
12 many of us.

13 Knowing what motivates companies. They
14 want to expand knowledge about their product. They
15 want to gain additional indications for their product.
16 They want to do good. There are many people within
17 these companies who donated a lot of their time to
18 make this trial successful.

19 And knowing what motivates academic
20 physicians. They like developing new expertise. They
21 like doing good. That is why they are physicians in
22 the first place. They like to receive credit when they

1 have done work. So including people as authors on
2 manuscripts is very important.

3 These direct interactions that we
4 engineered between patients and physicians was
5 incredibly motivating. And I would encourage any rare
6 disease community to consider including both
7 physicians and patients in conferences together.

8 But above all, I think, what really
9 motivated progress in LAM was exciting science, and
10 these biologically tractable problems with tremendous
11 clues from nature about the most promising drug and
12 drug targets might be.

13 So, I think, overall, it is knowing
14 your constituents, knowing what motivates them. And
15 all of these things aligned incredibly well for LAM
16 and we are very fortunate in that regard.

17 DR. MCCUNE: Thank you, Dr. McCormack.
18 Dr. Seymour, clearly, Dr. McCormack talked about the
19 role of FDA with the LAM community and the LAM
20 Foundation and the LAM Consortium. Can you talk a
21 little bit more about the role of the consortia
22 efforts in this space?

1 DR. SEYMOUR: Sure. Thank you,
2 Dr. McCune. And thank you to the organizers for
3 inviting me to participate in this panel.

4 You know, I think the role of FDA in
5 these collaborative efforts can be different,
6 depending upon the stage of development, and how
7 organized the collaborators are. So we can receive
8 submissions -- inquiries from -- you know,
9 individuals, patient organizations, academic
10 investigators, pharmaceutical companies. And each of
11 these can play a role in the process and the
12 collaboration.

13 Sometimes efforts in a certain disease
14 area, from our perspective, seemed scattered and going
15 in different directions. Maybe, duplication of efforts.
16 Maybe, there is more than one patient group who are
17 working towards the same goal, but duplicating
18 efforts. And sometimes bringing those folks together
19 to combine their efforts would be more efficient. And
20 we may suggest that some of these stakeholders
21 actually work together.

22 If it is early in development and a

1 patient group is reaching out to us, we may suggest
2 something like a patient-focused drug development
3 meeting to obtain some feedback from patients, and to try
4 and generate some interest in development of
5 therapeutics in this space.

6 It may be that there are some academics
7 or sponsors early in the process. And this was the
8 case with Dr. McCormack. And we can provide feedback
9 on the type of data that is necessary to open an IND.
10 And also, feedback on the purposed development program
11 they are proposing, and outline our expectations for a
12 successful application.

13 So depending on where folks are in the
14 process, FDA can play a different role. But I think we
15 do have an important role in these collaborations,
16 because we have expectations for what is necessary for
17 successful application. We have a lot of clinical
18 trial expertise and can provide feedback on the
19 design, endpoints -- those types of things that are
20 important for the trials. And for sponsors who are
21 submitting applications -- you know, they want
22 information, like, is an advisory committee going to be

1 necessary? So we can provide all of that feedback to
2 them, depending on where they are in their stage of
3 development.

4 But I think one of our main roles can
5 be bringing these stakeholders to the table and trying
6 to get them to work together. We also can provide a
7 lot of feedback on all the different resources that
8 FDA offers -- many of which Dr. McCormack mentioned in
9 his presentation.

10 DR. MCCUNE: Thank you, Dr. Seymour. We
11 have gotten a couple of questions from the audience.
12 And I would like to fold those into a little bit of
13 the discussion, right now. Because you are talking
14 about how to engage stakeholders. And I want to go
15 back to Dr. McCormack for just a second, because I
16 know that you mentioned starting with the LAM
17 foundation. And one of the questions that we have
18 gotten is, "How do foundations work with industry to
19 get to the point of submitting an NDA, and how do you
20 motivate sponsors -- " and this goes to both the
21 device side, as well as the therapeutic side -- "How
22 do you motivate the company sponsors?" You were

1 speaking of that, Dr. McCormack, a little bit. But I
2 think folks have found some challenges in encouraging
3 industry to be engaged in this space. And do you have
4 thoughts on that?

5 DR. MCCORMACK: Yes. The LAM
6 Foundation's role in the NDA was not so much to submit
7 it, but to organize the patients in a way that -- in
8 which trials were possible, and to find seed funding
9 for studies that ultimately led to federal funding and
10 larger research discoveries. I would say that most of
11 the foundation -- scientific foundation for the use of
12 sirolimus in LAM was developed with funds that, at
13 least, started with LAM Foundation seed funding.

14 So pharma becomes interested when the
15 target is biologically plausible and promising, and
16 the patients are organized so that the studies can be
17 done. And I think that, really, the -- until that
18 happens, it is very difficult for pharma to engage.

19 So rare disease organizations -- rare
20 disease populations have tremendous power to make
21 themselves accessible to progress, to pharma, to
22 science, by organizing, developing seed funding, and

1 then, engaging in pharma and the FDA and other
2 organizations to move treatments forward.

3 We were very fortunate that this drug
4 was already approved for another indication, had
5 tremendous interest from the science community behind
6 it because of its role in a central energy pathway in
7 the cell. So there was a lot of horsepower behind this
8 drug before we even got started with the trial. And
9 that is not true for every patient community.

10 But I think it all starts with becoming
11 organized, funding seed research, and then, doing
12 trials -- making patients accessible for trials.

13 DR. MCCUNE: Thank you. And Dr. Peiris,
14 I will ask you, sort of, the same question in this
15 space, because I know that you have been very active
16 with the sponsors. And can you talk a little bit about
17 the other stakeholders, including the patient voice,
18 in this space?

19 DR. PEIRIS: Yeah. There is no doubt,
20 Suzie, the patient voice is critical and fundamental to
21 all of this. All of these efforts that we are trying
22 to move forward on is intended to help patients that

1 need the care, that need the therapies, the drugs, the
2 biologics, the medical devices. And I -- even at CDRH
3 we have a patient engage in the Patient Science
4 Program, that is specifically encouraging developing
5 tools for sponsors to be able to utilize the patient
6 voice in a more established and quantitative way.
7 Sometimes it is qualitative information that needs to
8 be created into quantitative metric, so that, that can
9 be utilized within the scientific approach that our
10 review viewed to evaluate the product. So patient
11 aspect is critical.

12 And, Suzie, if you do not mind, just to
13 literally a point about -- I do not create
14 collaboration that are really as effective and as
15 potent as we need. Just to dig a little bit more on
16 that. I know there is a question in the chat around
17 how can federal agencies make a difference in this
18 space. And I think that is an extremely poignant
19 question.

20 When we think about collaborations,
21 especially similar to what we have been doing with the
22 SHIP-MD framework, we are trying to bring together,

1 again, multiple stakeholders across the ecosystem --
2 patients, academics, innovators, industry,
3 financiers, reimbursement experts. And I think there is
4 a great role for us to be able to leverage the
5 strengths of a number of different sister federal
6 agencies to really bring that -- those groups together
7 to be able to address some of these long-standing
8 public health areas, where there are needs that are
9 across the device development -- for the --
10 development spectrum. But and eventually -- more focus
11 on the medical pediatric device development spectrum.

12 DR. MCCUNE: Thank you, so much. And
13 I -- and there is another question in the chat box,
14 related to the use of placebo arms in trials, and the
15 use of historical controls, and whether consortia can
16 play a role in developing registries and the role of
17 that in clinical trials for rare diseases. I just
18 thought I would throw that out, see if anyone would
19 want to start that discussion.

20 DR. MCCORMACK: Just say in terms of
21 the Miles Trial, the inclusion of a placebo group was
22 incredibly important for -- I think, for ultimate

1 approval and acceptance around the world. I was
2 encouraged to design the trial in that way by a former
3 FDA employee named Gene Sullivan. He helped me with
4 trial design all through the trial -- trial design and
5 implementation.

6 I recognize that is not possible for
7 every patient population, and that it can be
8 difficult -- it can make recruitment difficult, which
9 I think you can see from the slide that showed how few
10 patients were enrolled at most of the sites. So many
11 patients are resistant to the concept of a placebo
12 arm, especially in the face of a promising drug. But
13 it was incredibly impactful in the LAM community. And
14 I am very pleased that we decided to go that route.
15 And I acknowledge that is not possible for every
16 organization -- every patient community.

17 DR. MCCLUNE: And Dr. Seymour, did you
18 have any thoughts in that arena?

19 DR. SEYMOUR: Well, I think, Suzie, it
20 depends on what you know about the disease, right? I
21 mean, you have to have an understanding of the natural
22 history of the disease. That is very helpful. And in

1 some cases, you may not need a placebo control. But I
2 think that would be an exception. But there are cases
3 where the natural history is so clear that it would
4 not necessarily be required to have a placebo control.

5 But I do think having a rigorous trial
6 design is -- including a placebo control if it is
7 appropriate -- is very helpful in interpretation of
8 the data. Often, we do not have large trials, here.
9 Often, we only have a single trial. So we really need
10 the most robust design we can get to make the best
11 decision for patients. Often, these are lifelong
12 treatments that they are going to be taking. And we
13 have to really have the best information we can to
14 make those benefit/risk decisions for them.

15 DR. MCCLUNE: And thank you, so much,
16 Dr. Seymour. And as I -- as Dr. Fermaglich pointed out
17 in my story, about what seemed to be a promising drug
18 that finally, when it was studied in the neonatal
19 population, did not demonstrate any benefit, in terms
20 of moderate or severe BPD. But we needed to do the study.
21 And we needed to have the placebo population. And
22 we -- specifically, when you are 15 years out from the

1 original thought, clearly the standard of care
2 changes. And so trying to be able to look at natural
3 history studies may be a bit more complicated. So I
4 think it is a really important aspect of rare disease
5 trials.

6 And I will say that we did have another
7 question about the patient voice. We have gotten a
8 little bit into that. But I want to note that our next
9 panel is specifically regarding patient engagement and
10 includes a patient advocacy representative. So we are
11 going to let more of the patient voice conversation go
12 to them.

13 I am going to -- looks like we have a
14 little bit under 10 minutes, or so. I want to go to
15 the second question that we had, kind of, talked about
16 originally. And that gets into a little bit of what we
17 have been talking about. But collaborative efforts in
18 the rare disease space may require unique approaches.
19 Especially, related to enrollment of small
20 populations, implementation of innovative trial
21 designs, and creative approaches to developing
22 incentives. And we have talked a little bit, today,

1 about some of the funding issues. And maybe you all
2 can talk about some funding opportunities. And I just
3 want you to discuss your experience in your
4 consortium, and how you have addressed these issues.

5 So maybe I will start again, back, with
6 Dr. Peiris.

7 DR. PEIRIS: Sure. Happy to kick it
8 off. A lot, here. As you already -- as the question
9 also outlines. Let me take it from, perhaps, the
10 SHIP-MD framework perspective that we have been
11 working on.

12 As I mentioned, these types of long
13 standing public health issues, especially -- and I
14 will speak to the pediatric device area. The issue
15 around a -- of medical devices developed for
16 pediatrics has been -- is such a generational issue,
17 that in pediatric medicine, and pediatric
18 interventions and surgery, we train our residents and
19 fellows to be able to utilize the devices off label.
20 We have to alter them, and cut them, change them,
21 reshape them, to be able to work for our patients. And
22 that certainly is very appropriate. Physicians have to

1 do that. They do that every day.

2 But it certainly also exposes those
3 pediatric patients to a very different benefit/risk
4 profile than the device was originally designed,
5 evaluated, and approved for. It was not necessarily
6 evaluated in the populations that we may be using.

7 So in order to begin to overcome these
8 generational issues, number one, we have to help all
9 of our colleagues and everyone that is interested in
10 the space. All the stakeholders recognize, hey, number
11 one, there is an issue here. Number two, what is the
12 solution to that issue, and how do we clarify a clear
13 path forward -- how do we take -- how do we develop
14 the right strategic steps? And then, again, take them
15 together in an aligned manner that can truly begin to
16 overcome some of the systemic issues in a systematic
17 way.

18 And I think that level of collaboration
19 does take time. But it probably is the method by which
20 we will make the most significant and durable
21 solutions to issues like those for the rare disease,
22 small population, and pediatric device space, and that

1 can, again, begin to shift the entire arena towards a
2 time similar to what Bob had mentioned, when we can
3 actually get to a point where medical devices and
4 medical technology are being equitably developed for
5 pediatrics. Because that is not occurring at the
6 current time.

7 So, again, it really is taking those
8 clear and strategic steps, making sure that all
9 stakeholder voices are incorporated and integrated,
10 and then, how do we create, again, the integrative
11 approach across the strengths of all the stakeholders
12 and organizations to be able to overcome some of these
13 systemic barriers.

14 DR. MCCLUNE: Mr. Kroslowitz, thoughts
15 on some of the innovations that are necessary?

16 MR. KROSLowitz: I think -- you know,
17 all of this that we are talking about on the device
18 space, on the drug space -- right -- the goal for all
19 of us is to improve the care, outcomes, and quality of
20 life for these patients -- right -- with rare
21 diseases. And who is not motivated by that, right?
22 Clinicians are very motivated by that. Hospitals are

1 motivated by that, right? If you improve the outcomes,
2 there is cost savings for them. If you improve the
3 outcomes for industry -- right -- there is greater
4 revenue generation for them. So I think there is
5 something at the table for everybody. And, again, the
6 closer that we align, and work on these issues
7 together, I think, in the end, really, we will all
8 achieve our goals much faster.

9 You know, there is another -- again,
10 with the limited number of patients, or populations
11 that we have of patients exposed or presenting with
12 these rare diseases -- right -- it is critical to
13 capture as much data as you possibly can. There is
14 another very important program that the FDA has
15 developed in collaboration with PMDA, the Japanese
16 regulatory authorities, called Harmonization by Doing
17 for Children, where we are looking for creative ways
18 to develop clinical trials that will lead to approval
19 in both the U.S. and in Japan for children with rare
20 diseases.

21 There is other collaborative efforts in
22 the cardiovascular space that I work very closely

1 with. A learning network for children with heart
2 failure. And where they brought, again, all the
3 stakeholders to the table -- industry, the medical
4 community, the hospitals, and the patients -- and
5 really, with these collaborative efforts, in my
6 career, I have seen more innovation happen in shorter
7 periods of time, than ever having tried to do
8 something on your own. So I think it is really very
9 important to, sort of, look for ways to be innovative
10 in capturing the number of patients, and collaborating
11 on all of the things we have discussed generating --
12 infrastructures that make the whole process manageable
13 and much easier.

14 DR. MCCLUNE: Thank you,
15 Mr. Kroslowitz. And Dr. McCormack, some thoughts on
16 innovation in this space?

17 DR. MCCORMACK: I think one of the most
18 impactful innovations in this trial was the use of the
19 LAM Foundation to motivate, educate, and recruit
20 patients at every step. I mean, when you have a
21 disease that only affects five women per million,
22 finding them can be difficult. And the Foundation has

1 a series of publications that goes out to patients,
2 they have a listserv for patients to talk to one
3 another, they engage patients at their annual meeting
4 as I have mentioned before. We talk about the
5 importance of trials, about the importance of placebo
6 groups. And I think those things made LAM successful
7 in their trials.

8 Now that we have an effective drug, we
9 are thinking hard about what next steps we need to
10 take to -- for the next breakthrough therapy. One of
11 the things that have happened that is very
12 encouraging, is that the Chinese population has
13 mimicked the Rare Lung Disease Consortium in the
14 United States and opened a set of 80 trial sites
15 within China for rare lung disease. And in the end,
16 the best way to make progress in rare lung diseases is
17 to open sites in populations centers where there will
18 be many patients with those rare lung diseases. And
19 efforts, such as those going on in China, will make
20 that more feasible.

21 As Bob mentioned, we need to develop
22 more innovative trial designs -- adaptive trials that

1 can answer questions with fewer patients. And we need
2 to figure out how we are going to develop new
3 therapies when we have an effective drug. And with the
4 ethical considerations about doing trials when there
5 is an effective drug already approved. So we are
6 thinking hard about what next steps will be.

7 But for now, we are refining our
8 approaches to the use of m-Tor inhibitors, trying to
9 find out what the minimal effective doses are of the
10 known approved drug. At the same time testing new
11 combination therapies in small trials around the U.S.
12 and Europe.

13 DR. MCCLUNE: Thank you, very much,
14 Dr. McCormack. And Dr. Seymour, any last minute, as we
15 are winding up our session?

16 DR. SEYMOUR: That was well said by
17 Dr. McCormack. I do not have much to add, Suzie. I
18 would just say that -- you know, all of these
19 programs, they are -- each one is different, and often
20 requires some degree of innovation along the way. One
21 area where I think is always a challenge are the
22 endpoints. And I think we need to have better

1 approaches for capturing patient outcomes.

2 All roads seem to lead back to the
3 patients when we have conversations with companies
4 about rare disease programs, because established
5 endpoints are always a question. And often, the
6 patient symptoms are one of the best ways to
7 potentially go forward.

8 But we need to have, I think, a more
9 streamlined -- or better way for companies to develop
10 these patient reported outcomes, so that it is more
11 feasible in a shorter period of time.

12 DR. MCCLUNE: Well, thank you, so much.
13 I want to thank all of the panel members. And I want o
14 say that I think that the last comment is a great
15 segue into our next panel. We have received a number
16 of questions in the chat. And I am sorry that we were
17 not able to get to them. But we have them all
18 cataloged. And maybe, some of the panels, later in the
19 day, can address some of those issues. So at this
20 point, I am going to toss it back to Dr. Fermaglich.
21 Thank you, so much.

22 DR. FERMAGLICH: Thank you, Suzie. Thank

1 you, all. We will take about 10-minute break. Please
2 rejoin us at 10:45 for our next panel.

3 (off the record)

4 DR. FERMAGLICH: Welcome back to FDA
5 Rare Disease Day 2021. Our next panel will focus on
6 the importance of patient engagement in rare disease
7 product development, moderated by Robin Bent, director
8 of CDER's Patient Focused Drug Development, or PFDD
9 initiative, an effort to systematically obtain and
10 facilitate the incorporation of meaningful patient
11 input into drug development and regulatory decision
12 making.

13 Robin has been a pediatric oncology
14 nurse for over 20 years, and still practices on
15 weekends. In an e-mail to me, she said, "The voice of
16 the patient being incorporated into the drug
17 development process is incredibly important to me.
18 While the concept is relevant across all diseases,
19 patient involvement is especially important in
20 conditions that we know less about, because they are
21 just being identified, or because they are just so
22 rare that most of us are unfamiliar with. We need to

1 partner with patients and their loved ones, so we can
2 better understand risk/benefit, identify targets for
3 therapy, and identify meaningful clinical trial
4 endpoints. We cannot move science forward without
5 input from those directly impacted by the science."

6 Robin?

7 MS. BENT: All Right. Thank you, so
8 much, Lewis. And thank you for the opportunity to
9 moderate such an esteemed panel today. I am really
10 looking forward to what we will learn from our
11 panelists.

12 So just briefly, the goal of this
13 panel, really, is to examine tangible examples of
14 patient engagement in rare disease product
15 development, and to include a discussion on the
16 importance of natural history studies in rare disease
17 product development. Our panelists will provide
18 presentations at the start of the panel, followed by
19 facilitated discussion with the panel members.

20 I would encourage all of you viewing
21 the meeting to submit your comments by clicking on
22 what I consider, kind of, the thought bubble that you

1 see in the right corner of your screen.

2 And so, with that -- because I want to
3 give most of our time to the panelists -- please let
4 me invite the -- our four panelists to turn on their
5 cameras, briefly, for introductions. Great.

6 So I am happy to introduce Dr. Wen-Hann
7 Tan, attending physician, Division of Genetics and
8 Genomics, associate professor of pediatrics at Harvard
9 Medical School. Dr. Tan, thank you so much for joining
10 us today.

11 Our next panelist, is Amanda Moore, CEO
12 of the Angelman Syndrome Foundation. Amanda, thank
13 you so much, for taking the time to join our panel
14 today. I know we are going to learn a lot from your
15 experiences.

16 Our third panelist will be Martin Ho,
17 associate director of science and patient inputs and
18 real-world patient evidence in the Office of
19 Biostatistics and Epidemiology and the Center for
20 Biologics Evaluation and Research, or CBER, here, at
21 the FDA.

22 And finally, we have Andrea

1 Furia-Helms, director of the Office of Patient
2 Affairs, Office of Clinical Policy and Programs, here,
3 at the FDA.

4 So at this point, I would like to
5 invite Dr. Wen-Hann Tan to begin his talk. And the
6 rest of us will mute and turn off our cameras, to
7 avoid distraction. Thank you, so much.

8 DR. TAN: All right. Can you hear me?

9 MS. BENT: Yes. We can.

10 DR. TAN: Great. So thank you, first of
11 all, for the opportunity to speak at this event. And
12 thank you for the FDA for all organizing this
13 wonderful event today.

14 So I am going to tell you about the
15 importance of natural history studies. And let's make
16 sure I can see my slide.

17 MS. BENT: If you would like to, you
18 can --

19 DR. TAN: If I can --

20 MS. BENT: -- click on the slide that -
21 - where you see it, and pin it, and it will become
22 larger. Can you --

1 DR. TAN: Okay. Sorry. Just -- let me
2 try -- I can see my slide and it is so small.

3 MS. BENT: Right. So right click on the
4 slide.

5 DR. TAN: Ah. There we are. Okay.

6 MS. BENT: There you go.

7 DR. TAN: Right. Right. So -- in the
8 interest of time. So next slide.

9 So natural history study. Well, I think
10 many of you there in this audience probably know what
11 natural history studies is. But just so we are all on
12 the same page, just want to emphasize is a natural
13 history is essentially a long -- observational study
14 conducted over many years to study the natural
15 progression of a condition. Whether that is a rare
16 syndrome, whether it is a common disease, it is --
17 conceptually it is basically an observational long
18 term study. And participants are typically seen
19 multiple times throughout the study at, sort of,
20 intervals, depending on the study. And it compliments
21 a patient registry.

22 So it is different from patient

1 registry in that in natural history study there is an
2 investigator who sees the patients and collects data
3 points, as opposed to a patient registry in which the
4 patients, themselves, would enter those data into,
5 typically, an online database. Next slide.

6 So why do we care about natural history
7 studies? Well, as many people in the past have
8 mentioned, natural history studies is really, really
9 important for the development for therapeutics
10 products. Particularly in rare disorders. And natural
11 history studies tells us what -- tells us something
12 about the disease. It teaches us about the potential
13 complications of a disease. So when you are conducting
14 a clinical trial and you observe a sudden -- what you
15 may think is an adverse event, you can know from
16 knowledge of the natural history -- you will know
17 whether that is truly an adverse event due to the
18 intervention, or whether it is just a natural part of
19 the disease.

20 It also helps pharmaceutical companies
21 identify endpoints that can be used in clinical
22 trials. And as Dr. Ameokakis [ph] whom many of you may

1 know, have previously said, natural history study is
2 really important because it provides you with the data
3 that you can utilize in a drug development program.

4 Next slide.

5 The other thing about natural history
6 study, I think, some people may not, sort of -- have
7 thought about, is that in addition to collecting and
8 producing data for clinical trials, natural history
9 studies also provide investigators with expertise in
10 the disease. By seeing a ton of patients with the same
11 disorder, you start to learn about the subtleties of
12 the disease. You start to learn about things that are
13 not in the textbooks, not in the literature. So it
14 builds expertise in the investigators.

15 It also allows us to build
16 infrastructure. Because if you have a natural history
17 study, you will have the personnel, you have the PIs,
18 the investigators, the -- you know, research
19 assistants. And by having an infrastructure in place,
20 it allows you to launch therapeutic trials much more
21 quickly. So when a company comes to you and says, "We
22 want to conduct a trial in this condition," you do not

1 have to start from scratch building your team. Your
2 team is already there.

3 The other things about natural history
4 study that is really important and distinct from
5 clinical trials, is that the population that you are
6 studying in the natural history study is usually, by
7 definition, relatively heterogeneous. So you are
8 taking all molecular subtypes, all ages, and it gives
9 you the full spectrum of the condition, as opposed to
10 clinical trials, which are necessarily homogeneous in
11 their makeup. Next slide.

12 So one of the challenges that we have
13 found in conducting natural history studies -- and we
14 have been doing this since 2006 -- over the last 15
15 years -- is that -- notwithstanding its importance, it
16 is really hard to draw subjects into -- participants
17 into natural history studies, because everyone wants
18 to be in an intervention trial. No one want to just
19 come in, see a doctor just to -- for observation.

20 And what we have found is when we
21 conduct the Angelman Syndrome Natural History Study as
22 part of the rare disease clinical research network

1 from 2006 to 2014 -- every time we had an intervention
2 trial, along -- at the same time as our natural
3 history study, we would have a boost in our enrollment
4 numbers. Because patients will come in, they want to
5 participate in the therapeutic trial. We say, "Well,
6 since you are here, would you mind participating in
7 the natural history study, as well?" And I have never
8 had a patient say no, because they are already here,
9 and they are doing the same thing, and we are just
10 collecting some additional data.

11 But the consequence of that, however,
12 as you can see, is that the -- at least in our
13 population in the natural history -- in Angelman
14 Syndrome Natural History Study, our data became very
15 skewed to those subjects who were in the therapeutic
16 trial, as well. And you can see that in each group. So
17 this gives you a breakdown of all the participants in
18 the -- Angelman Syndrome Natural History Study that we
19 conducted from 2006 to 2014. And you can see that it
20 was highly skewed towards the younger age group. And
21 that is because during the course of the natural
22 history study we had two therapeutic trials, one

1 enrolled only first -- the first therapeutic trial was
2 open only to individuals up to age five, and then, the
3 second was for age four to -- so you can see we had an
4 unproportionate of participants in this age group.

5 Next slide.

6 So we were very fortunate to be funded
7 by the FDA Office of Orphan Products Development in
8 2017 to relaunch our natural history study. And in
9 this trial we made an active effort to try to recruit
10 older participants. So now you can see that our
11 proportion of older participants has actually
12 increased compared to the previous study.

13 And it probably also helped, the fact
14 that, again, during this study we had therapeutic
15 trial. One of which was open to both children and
16 adults. So, again, you were able to co-enroll of some
17 of these individuals in our natural history study.

18 Next slide.

19 So retention is a major issue in
20 natural history study. And I am sure Amanda can talk
21 about this a little more a little later. But
22 essentially, we -- you can see from in the previous

1 natural history study that we ran from 2006 to 2014
2 there was a huge drop off from patients who would just
3 have one visits, to those who had two visits. And
4 eventually, even though it was an eight- or nine-year
5 study, we only had a very small number of patients who
6 came in for nine visits. Mostly, just dropped off
7 after the second and third visits. Next slide.

8 So what can we do to -- you know,
9 increase our recruitment and retention, which is
10 really a major theme of this panel today. So we have
11 been working very closely with two main patient
12 support groups in Angelman Syndrome. So the Angelman
13 Syndrome Foundation, and the Foundation for Angelman
14 Syndrome Therapeutics. We attend all the national
15 conferences, as well as their local events. And I
16 think local events are usually very important. For
17 example, the ASF walk every year that we attend. We
18 source their input into the study design, and, sort
19 of, ask them what would families want to see from
20 this.

21 We also engage with pharmaceutical
22 companies, because the -- one of the major goals of

1 our current ongoing natural history study is to
2 generate data that can be used in pharmaceutical
3 trials. So we want to hear from the pharma companies
4 what accommodations they would be interested in.

5 We also engage with the local
6 physicians and we have started sending out study new
7 letters every three to six months to keep people
8 engaged, that then know what we are doing. Next slide.

9 So the other thing we are afraid to do
10 is to review some participants' verdict. To say, well
11 you know, if we can make life as easy as possible for
12 this participant, can we, at least, retain them in the
13 study for a longer duration, so that they would not
14 just give up. So what we have done as part of this new
15 revamped natural history study, is to convert some of
16 our -- the question/answer we used to complete in
17 person to an online portal, so that parents can
18 complete this in their own time. We have started to
19 move to a virtual visit. Really, as -- by the COVID
20 pandemic. And we have found a lot of outcome measures
21 can be done through a virtual visit. So with that, we
22 have minimized the amount of time they spend in the

1 clinic. And we have also allowed patients to come in
2 for follow up through virtual visits, again, because
3 we are trying to capture patients who live all over
4 the country -- and indeed, all over the world -- we
5 want to make this as easy as possible. We also,
6 working with the Angelman Syndrome Foundation clinics
7 to synchronize their visits, so that patients can go
8 and see their doctors for regular visit, and still
9 complete some forms for our research study. And
10 finally, a work in progress right now is to develop
11 home video recordings as a way to capture natural
12 history data.

13 So these are all the various ways in
14 which we have tried to reduce participant burden and
15 increase retention in our study. Next slide.

16 So with that, just want to thank all
17 the wonderful people I have worked with, both in the
18 Angelman Syndrome Foundation, the Foundation for
19 Angelman Syndrome Therapeutics, as well as all the
20 parents and caregivers of children with Angelman
21 Syndrome, and all funding sources. Thank you.

22 MS. BENT: Wonderful. Thank you, so

1 much, for a really informative presentation. I know we
2 are going to have a lot of questions for you coming
3 up.

4 But for now, I would like to invite
5 you, Dr. Tan, to mute and turn off your camera. And
6 invite Amanda to turn on her camera as we turn, kind
7 of, the virtual microphone over to her.

8 Thank you, so much. Go ahead, Amanda.

9 MS. MOORE: Thank you, so much, Robin.
10 And thank you, Wen-Hann, for that presentation and for
11 your years of service to the foundation.

12 My name is Amanda Moore. I am
13 incredibly excited to be here today. I am not only the
14 CEO of the Angelman Syndrome Foundation, but more
15 importantly I am a mom to the cute kid that you
16 probably see on your screen on the right there,
17 Jackson, who is five and was diagnosed with Angelman
18 Syndrome at the age of two.

19 So I am here today -- you can go to the
20 next slide -- to talk a little bit about how the
21 patient engages in the rare disease product
22 development, but also how patient advocacy

1 organizations can help in that process, as well.

2 So just a little bit about the
3 foundation -- if you would like to go to the next
4 slide. The mission of the Angelman Syndrome Foundation
5 from the beginning has been to advance the awareness
6 and treatment of Angelman Syndrome. And so a few ways
7 that we have done that are through three pillars.
8 Which is, family support, clinical -- our clinical
9 network, and really investing in research.

10 And so few things that we have done
11 that we -- I felt was really crucial for the
12 conversation today. And if you can go to the next
13 slide. When it comes to engaging the patient and
14 engaging the patient advocacy organizations in rare
15 disease development, are some of the things listed
16 here in no particular order.

17 So I think the one that -- important
18 for the patient to think about is how you really get
19 involved in advocacy early on. I think when we talk
20 about treatments -- when we talk about product
21 development, a lot of that also goes for advocating
22 for a lot of these things to happen, whether it is for

1 newborn screening, whether it is to have individuals
2 join the rare disease caucus, to increase funding for
3 FDA -- whatever it may be, it is really, really
4 important to really give tools for yourself, and for
5 your families that you are supporting, on how to
6 advocate.

7 So one thing that we did really early
8 on was just create an advocacy taskforce. And these
9 are parents that come together that really want to
10 learn more about how they advocate for their child,
11 but also how they advocate, hopefully -- you know,
12 down the line for access to treatments as we move
13 forward. So that is one thing that we think is really
14 important.

15 The other thing is how you engage with
16 industry. Especially from a patient advocacy
17 organization perspective. It is really crucial early
18 on to engage with industry. And a few ways that we
19 have done that at the foundation is really from early
20 on getting meetings -- you know, holding monthly
21 meetings with the industry partners in the space to
22 talk about -- you know, to get the parent/caregiver

1 perspective, to talk about what you are doing at the
2 foundation that can aid in their development. And
3 also, just -- you know, helping them understand -- you
4 know, the burden of disease, and getting them access
5 to the patient experience, I think, is really
6 important.

7 The other thing that we felt was really
8 important to do, as well, is along with other patient
9 advocacy organizations in the space, like FAST and
10 other organizations coming together to bring industry
11 together to work on creating those essential endpoints
12 and biomarkers. So creating a consortium of
13 individuals to come together to really work towards
14 creating that space -- that non-competitive space,
15 where you can come together and really think about the
16 endpoints and biomarkers that are essential for
17 treatments as you move forward. And so, engaging with
18 industry really early on is essential for the patient
19 advocacy organizations.

20 Another thing that I think is really
21 essential to think about from the patient advocacy
22 organization part of it, is we early on saw the need

1 of the clinical care, and how can we ensure that
2 individuals with Angelman Syndrome are receiving the
3 best care possible. And in order to do that, with
4 having the, kind of, long-term goal of being -- if we
5 created these centers of excellence -- or these
6 clinical networks, what we would be able to do is
7 possibly engage experts with industry as we move into
8 clinical trials.

9 So when clinical trials come, as they
10 have -- as we -- you know, have had happen in the
11 Angelman space, there are these experts in Angelman
12 Syndrome that can work with industry and work with the
13 patients to ensure the best care during those clinical
14 trials. And so we have the 15q Clinic Research
15 Network, which is 20 clinics across the United States,
16 and then, clinics globally, that meet on a monthly
17 basis to talk about patient care, to talk about
18 clinical trials, to give advice to industry on
19 clinical trial design, and the -- you know to
20 understand the disease and the symptoms and how we can
21 support them, and how we can -- and -- you know,
22 increase the best standard of care possible -- has

1 been a huge asset to the community in that way. But it
2 has also been a really great way for patients to
3 engage, also, with clinicians. And also, to take that
4 knowledge back to teach their clinical teams back at
5 home, if they do not have access to a clinic in their
6 backyard.

7 And then, I think, another thing that
8 is really important to think about when you are
9 talking about rare diseases is that, a lot of these
10 rare diseases, kind of, overlap when it comes to
11 symptoms. And so, the one thing that we really work on
12 is, how do we collaborate with other like-minded
13 mission-oriented rare disease organizations in the
14 field? How do you come together to really create and
15 work on not duplicating efforts? You know, a lot of
16 these small, rare disease organizations are working
17 with really small budgets. And so, making sure that
18 there are ways that we can support each other and not
19 duplicate efforts, I think, is essential as we more
20 forward when working in the space of product
21 development.

22 And then, if you could go to the next

1 slide -- I think, one of the biggest things that a
2 patient can really -- you know, engage with when it
3 comes to rare disease development, is this idea of
4 participating in registries, participating in
5 databases that are essential -- have -- you know, in
6 turn. How do we educate individuals, and how do we
7 ensure that people understand the importance these
8 registries, and their involvement in it.

9 So the one thing that we did that I
10 think was really important, is that we created a
11 LADDER database. And so, the LADDER database is a
12 database that basically is -- the goal is to link data
13 in the Angelman Syndrome space. So we have a lot of
14 these different data points. So how do we bring all
15 these data points together, and how do we collect
16 clinical data? So all that data that is happening in
17 the clinics, how do we bring all those together to be
18 able expand research and get access to that research?

19 And so LADDER also works with other
20 important registries within the space -- works with
21 the natural history study data. It works with the
22 Global Angelman Registry data, which is a parent

1 reported registry, which is crucial to this work, as
2 well. And it, kind of, just acts as a conveyor. So we
3 can clean -- bring in this data, clean it all up, and
4 then, provide it -- you know, get it out to those key
5 stakeholders, whether it is industry, research, or
6 anyone, as quick as possible.

7 So it is really important for parents
8 to understand even getting on and spending time on
9 those registries, although it may not seem like it is
10 as important as going into a clinical trial and
11 receiving a possible treatment, it is incredibly
12 important for the journey to get us to those -- to
13 that end goal in that line of a possible therapeutic
14 treatment. So the best way that patients can really
15 engage in product development is when they have an
16 opportunity to take part in a registry, or any sort of
17 research study -- they should just on it. So next
18 slide, please.

19 So you know, overall, I think there is
20 multiple ways. I could talk for a very long time --
21 but I know we are running out of time already -- on
22 ways that patients can engage, as well as patient

1 advocacy organizations. I would love to chat with
2 any -- you know, organizations that are out there that
3 are wondering and wanting to dig a little bit deeper
4 on how we do this.

5 But, thank you so much, for allowing
6 me to be here today to talk about our experience.

7 MS. BENT: Great. Thanks, so much,
8 Amanda. Thank you for sharing a lot of valuable
9 information. And I think it sounds like, maybe, some
10 lessons learned as you have, kind of, worked through
11 this process.

12 I would like now, to invite Martin Ho
13 to turn on his camera and his video and begin his
14 presentation. Martin, go ahead.

15 MR. HO: Thank you, Robin.

16 MS. BENT: Thanks.

17 MR. HO: Can you see my slide now?

18 MS. BENT: We can. Yes.

19 MR. HO: Okay. Great. Thank you. Good
20 morning, everyone. It is my -- really -- my pleasure
21 to be able to participate in this very meaningful
22 conversation on such an important topic. I am a

1 statistician by training. And I have been feeling very
2 privileged to be able to participate in a study
3 sponsored by the FDA to address some issues regarding
4 the natural history study. And this study is the
5 Natural History Study of Metachromatic Leukodystrophy
6 Study. And I would like to, first, go over the values
7 -- or difference -- what is the difference between a
8 natural history study and a randomized clinical trial,
9 very briefly, and talk about -- as a statistician, how
10 we -- you know, from a stage of design -- study design
11 to address those issues. Next slide, please.

12 It is a disclaimer that all the
13 presentations I talk about today, it is only my
14 opinion. Thank you. Next slide.

15 So I think Dr. Tan has defined natural
16 history study very clearly. So I do not need to repeat
17 that. But I just want to emphasize that the FDA has
18 been required to consider valid scientific evidence or
19 substantial scientific evidence, which, by law, is
20 often defined as the randomized clinical trials. And
21 as NHS, or natural history study, is a study that
22 follow people over time in an observational study

1 manner that does not involve randomization. So as a
2 result of that -- you know, we are facing a few
3 differences between the two different type of -- these
4 two types of study design.

5 The first one that we are facing that
6 is the randomized clinical trial is -- you know, can
7 allow us, or protect us against the biases and the
8 confounder. Biases, meaning that if there is some
9 underlying -- you know, factors that determine or
10 affect someone's treatment assignment, then -- you
11 know, it can, basically, affect the outcome. For
12 example, for people who are -- you know, one group
13 would treat -- tend to treat people with a better
14 baseline condition than the others, or something like
15 that.

16 So the second issue is that for data
17 quality, the randomized clinical trial that is
18 considered by the FDA -- their data auditing and the
19 data -- and their study conduct, actually regulated,
20 versus, the natural history study is not.

21 And last but not least, the study
22 design of the randomized clinical trial is very

1 focused. And they only consider a very homogeneous
2 type of patients in the study, so that they can
3 maximize the probability of rejecting their own
4 hypothesis or to, basically -- to declare a win for
5 their study, versus, natural history study is much
6 more broader and have -- tend to have a bit more
7 heterogeneity among patients.

8 However, these three points is not
9 something that we can -- is something that all of them
10 can be a certain level, mitigated. So therefore, to
11 tackle the -- these three issues, by Center for
12 Biologics and Evaluation and Research has sponsored
13 this Natural History of Metachromatic Leukodystrophy
14 Study, which is a very rare disease with very dire
15 consequences. So therefore, we would like to conduct a
16 natural history study and partner with the National
17 Organization of Rare Disorders to -- basically, to
18 develop a natural history study from scratch. And
19 hopefully, through this process, we will learn about
20 the challenges and the design considerations through
21 learning by doing. And for that, we have developed
22 three different ways to mitigate the problems -- or

1 the gap between the natural history study and
2 randomized clinical trials.

3 The first one -- next slide, please.

4 And this is -- all right -- the webpage of the natural
5 history study. And next slide, please.

6 So here are the three things that we
7 are very proud of to be innovative to tackle -- the
8 issues that I have just discussed. The first one is
9 the data quality issue.

10 Here, there is a -- prevent -- we
11 wanted to prevent not only the -- obviously, it is not
12 the attribution, but rather it is attrition, and also
13 the missing data. And we know that as a fact it is
14 really -- you know, it is a lot of effort to retain
15 patients, and also to have an equal -- or a good
16 quality of data entry at different points. And as a
17 result, we wanted to reduce the burdens on patients
18 and their caregivers, in terms of inputting data and
19 reporting events. So we are using a site that is
20 designed that -- not only the data entry can be done
21 through the web, and also tablet, but some very
22 important health event that we also have developed an

1 app on the mobile phone for both Apple and Android for
2 patients and their caregivers to report this data. And
3 more importantly, we are going to -- you know, we are
4 having a very talented and very good with engaging
5 patients -- a study coordinator to walk through the
6 study and the study visit assessment with the
7 caregivers and the patients through the video camera
8 of the app, and of the tablet. And so, hopefully,
9 through that way, we not only be able to achieve the
10 goal of being a siteless study, but also have a more
11 interactive -- you know, engagement between the study
12 coordinator and the caregiver and offer help if we
13 need it.

14 And then, the second approach -- the
15 second prong of the strategy is to make sure that the
16 study design is high quality and relevant. How we do
17 that is we involve not only the patients -- and we
18 also use our multi-stakeholder approach. We also
19 involve physicians in -- you know, and drug
20 developers, and also, the reviewers at the FDA, to
21 make sure the information that we collect not only
22 essential, but also must be -- you know, must be

1 collected in a natural history study so that when they
2 are being considered as evidence from the agency's
3 perspective, all the important pieces are there.

4 As a statistician, speaking from my
5 experience, I have to say that nothing is more
6 frustrating than seeing a good natural history study
7 coming in, but just missing one or two critical pieces
8 of information for us to use it as a comparator, or to
9 consider that as important evidence to inform our
10 decisions. So this multi-stakeholder approach is
11 basically trying to balance on one hand, collecting
12 too much information and increased burden on patients.
13 On the other hand, trying to avoid collecting too
14 little information that render the natural history
15 study data not useful.

16 And last, but not least, is we are
17 going to use statistic -- some statistical techniques
18 and then -- and to try to mitigate the potential bias
19 and confounders. And there are many different methods.
20 And one of the methods are called matching. But
21 regardless of all these methods, they are all,
22 basically, following a basic simple principal, which

1 is trying to compare apple with apple. And here, we
2 see are red apples, and green apples. And so -- I
3 mean, the purpose of that is trying to identify the
4 red apples in the natural history study, and the red
5 apples in which is coming from some concurrent
6 randomized control that have a higher ratio treatment
7 than the concurring control group. So hopefully,
8 through that, we can reduce the burden on patients
9 regarding being -- the chance of being enrolled or
10 assigned into the control group.

11 Thank you.

12 MS. BENT: Great. Thank you, so much,
13 Martin. And I mean, I think that one of the key take-
14 homes that I am hearing is -- you know, it is
15 important to make sure that we are collecting the
16 right information. So I feel like you just said, "call
17 me," to everybody. Maybe not you directly, though.

18 So I would like now to turn over to our
19 final presenter today. That is Andrea Furia-Helms,
20 from our Office of Patient Affairs. And Andrea, let's
21 go ahead, and you can take it away. Thanks.

22 MS. FURIA-HELMS: Thank you, so much,

1 Robin. Good morning, everyone. I am really honored to
2 be part of today's Rare Disease Day event, and, as
3 well, alongside of the distinguished panelists today.
4 Thank you for joining. Also, I want to thank the
5 Office of Orphan Products Development for allowing us
6 the opportunity to share some ways that FDA involved
7 patient and patient perspectives in the agency's work.
8 Next slide, please.

9 So I will just start with a quick
10 overview. And then, I want to cover some ways that FDA
11 includes patient and advocate perspectives in the
12 regulatory activities. And then, I have some resources
13 to share with you that you may find helpful. Next
14 slide, please.

15 So I just want to start with why
16 hearing from patients and caregivers is really
17 important to the agency. What patients and caregivers
18 provide in hearing their stories and experiences, it
19 really gives us an insight on things, like, issues,
20 their needs, and what their priorities are, and what
21 they feel are important to both, not only patients,
22 but even their family members. And listening to

1 patients and caregivers really provides diverse
2 opinions and experiences, and can shed a lot of light
3 on issues, such as things like risk tolerance, and
4 potential benefit. And, of course, let's not forget,
5 they provide real-world experiences in real everyday
6 settings, which remind us of the human element. So
7 really FDA's work and activities is centered around
8 patients, and it is really at the heart of our
9 activities.

10 One thing I just want to note, 'cause
11 sometimes people think patient and engagement and
12 including patient experiences in FDA's work is pretty
13 new, it has been going on for quite a while. Over 30
14 years. It is hard to pinpoint when patient engagement
15 in FDA really began. But there was an increase in
16 engagement at the height of the HIV crisis in the late
17 1980's. And since that time, it has been so great to
18 see that it has been involving patient stakeholders in
19 our work -- has been increasing and -- continually,
20 and evolving continually, ever since. Next slide,
21 please.

22 So now, I want to talk about some

1 patient -- activities for including patient
2 perspective in FDA's work. And I will start with a
3 patient listening session. Next slide, please.

4 Patient listening sessions are one of
5 the many ways FDA has been expanding patient involvement.
6 And it really encourages communication between FDA
7 staff and the patient community. Now, currently our
8 patient listening sessions are focus on rare diseases,
9 and they are conducted in collaboration with our
10 partner, the National Organization for Rare Disorders
11 -- and as you probably well know, NORD -- a memorandum
12 of understanding. But we also partner and acknowledge
13 that the Reagan-Udall Foundation has been really
14 helpful and supportive for the sessions.

15 Now, these sessions allow FDA staff to
16 engage with patients, caregivers, and their advocates,
17 to hear directly from them about their experiences
18 living with diseases or caring for a loved one with a
19 disease. And this can really help inform medical
20 product development, as well as other regulatory
21 issues.

22 So just for an example, listening

1 sessions provide an understanding of things like,
2 disease and treatment burdens, functionality and
3 impact on daily activities, and really what priorities
4 should be considered when medical products are being
5 developed. But what they also do is, they help educate
6 the review staff. And really help them understand the
7 various diseases and conditions more clearly, and more
8 in depth. And it helps patients and advocates
9 understand FDA's work, just by participating and
10 really interacting with the staff and the types of
11 questions that they are asking. And it also provides a
12 starting point to -- for information early stage
13 research and development.

14 And we had asked review division staff
15 how they might see this information with patient
16 listening sessions informing the work, and they have
17 cited things, like, informing regulatory decisions,
18 informing guidance development, helping to prepare
19 agenda and topics for a workshop or other public
20 meetings to make sure it is really focused on what is
21 meaningful for patients. And also, there have been
22 other things cited, such as providing a broader

1 understanding of the range of opinions that patients
2 have, and maybe shaping regulatory thinking
3 surrounding endpoint selections and other instruments
4 for study design. Next slide, please.

5 So one thing I do want to highlight, is
6 the critical path innovation meeting, or also known as
7 CPIM. And this was developed by the Center for Drug
8 Evaluation and Research. The CPIM is a forum for FDA
9 and stakeholders -- and stakeholders, such as
10 industry, academia, scientists, and patient advocacy
11 groups, as well as government -- to discuss potential
12 scientific advancements in developing products and
13 specifically, drug development. And so, like, issues
14 around methodology, technology, or even a data
15 collection tool.

16 So these types of meetings, FDA may
17 provide some general advice in -- and to folks that
18 request this type of meeting. And they are usually
19 centered on those items that I discussed -- the
20 methodology, technology and other tools -- and really
21 is all focused to enhance drug development. Now, just
22 to note that the CPIM is not a substitute for formal

1 other regulatory meetings like the pre-IND, an IND, or
2 an NDA, or the BLA-type meetings. And it is not to
3 provide any in-depth review of data by FDA. And they
4 are not regulatory. And they are not binding, or
5 specific to any particular medical product. Next
6 slide, please.

7 I will start wrapping up with the
8 following resources on the slides. Next slide, please.

9 One thing I just want to mention is
10 this resource, that may be useful in helping to inform
11 the design and implementation of natural history
12 studies. The Rare Diseases Natural History Study for
13 Drug Development Guidance can be used to support the
14 development of drugs and biological products for rare
15 diseases. And this guidance describes things like
16 potential issues of a natural history study in all
17 phases of rare disease drug development. And it also
18 highlights strength and weaknesses of various types of
19 natural history studies, data elements, and research
20 plans, and provides the practical framework for the
21 conduct of natural history studies. So we highly
22 encourage you to take a look at this resource. And it

1 might help in developing a natural history study, or
2 maybe even informing one that is already in progress.
3 Next slide, please.

4 So this is a bit of a busy, busy slide,
5 which is a good thing, because what it does is
6 demonstrates the various patient-focused activities at
7 FDA. And it is a resource for contacts and links when
8 you are looking to learn about how to engage and
9 become more involved in the patient engagement program
10 and initiatives across FDA. And it is organized by
11 Medical Product Center in the Office of the
12 Commissioner. Next slide, please.

13 And finally, we know that it is really
14 hard to navigate, sometimes, where to go for the
15 appropriate place to get what you need at FDA. So
16 please feel free to contact us at the Office of
17 Patient Affairs. We are always happy to help.

18 So thank you for your attention. And I
19 look forward to any questions you may have.

20 MS. BENT: Wonderful. Thank you, so
21 much, Andrea. And actually, thanks all of you for some
22 really thought-provoking presentations.

1 I would like to, once again, encourage
2 those of you viewing the meeting to submit your
3 comments through the comment bubble in the bottom
4 right corner of your screen.

5 But with that, I would like to start
6 off our conversation with, maybe, a question for Dr.
7 Tan or Amanda. We know that clinical trial
8 participation can be burdensome, and that a lot of
9 impacts, including -- and it can have a lot of
10 impacts, including limited diversity of those who are
11 able to participate in the trials. How do you see the
12 information that you learn in natural history studies
13 being incorporated into clinical trials? Does it go
14 beyond better understanding the natural course of
15 disease, to, maybe, informing the design of clinical
16 trials to make them less burdensome, or informing
17 inclusion/exclusion criteria?

18 DR. TAN: Yeah. I will take that. So,
19 yes. Absolutely. So one of the many reasons for having
20 a natural history study is to allow investigators to
21 test and pilot different outcome measures. And we have
22 actually done that in our current iteration of the

1 natural history study. We had developed some forms
2 that we thought might be useful. We administered them
3 to the participants who have -- you know, study so
4 far. And we realized, after about a year or two, that
5 particular form -- that particular questionnaire was
6 not very discriminating -- was not particularly
7 useful. So we have abandoned that. So that is an
8 example of where -- you know, moving forward in a
9 future clinical trial, we would not use that.

10 On the other hand, we have also
11 introduced other measures that we found to be very
12 helpful, and we will recommend that those measures be
13 incorporated into future clinical trials.

14 MS. MOORE: Well, and if I can add,
15 too, I think --

16 DR. TAN: -- answer your question?

17 MS. MOORE: Yeah. If I could add to, I
18 think understanding how even simple things, like
19 traveling with individuals with the rare disease that
20 you are working on, or how anesthesia affects that
21 child, all these things can create a lot of burden on
22 the families when they are participating in the trial

1 that includes those things. So knowing those things in
2 advance, industry can create clinical trial designs
3 that help eliminate some of that burden. And I think
4 that is really important, as well.

5 DR. TAN: And the other things about
6 burden is that -- you know, there is obviously
7 traveling to the site that is a major burden. But the
8 other burden actually comes from the duration with
9 which they have to be remaining on the site. So how
10 long does it take to administer a particular
11 assessment. And that is something we are monitoring
12 and measuring as we study. We are learning from it.
13 And we have found that some assessments can be done
14 more efficiently, and therefore, can be incorporated
15 easily. And some have taken more time than we
16 initially anticipated. So -- you know, we have had to
17 modify things. And we will continue to do that.

18 And it also speaks to why we try to
19 move as many of these assessments as possible to Zoom,
20 to a virtual setting, so that we can do those outside
21 of a standard visit. So we are very conscious of
22 participant burden. And we are doing what we can to

1 minimize that.

2 MS. BENT: Great. Thank you. That is
3 really helpful. I do not know if either of my FDA
4 colleagues have any thoughts on that. I -- that was
5 not really a question that, maybe, we focus on. But if
6 -- do you -- does anybody have any other thoughts? Or
7 shall we move to --

8 MR. HO: This Martin. I just want to
9 add one more thing. When ceased or start of our -- the
10 natural history study, we recognize that one of the
11 primary endpoints of interest in most of the clinical
12 trials -- you know, regulatory submissions are
13 actually not something that would be conducted -- or
14 the assessment would not be conducted in regular
15 medical encounters. So therefore, it is something that
16 is being done in this trial -- and so therefore, is
17 not easily -- or almost impossible for us to get it
18 from a usual real-world evidence -- or real-world data
19 type of situation. So this is something that we
20 are -- we find is very important.

21 And also, to reflect on -- to echo the
22 previous two speakers, I really find this fascinating

1 to hear from the patient's and their caregiver's
2 perspective about the clinical protocol and the forms
3 -- the stuff -- the assessment that we need to go
4 through in the usual clinical trials.

5 And so, I just want -- let me stop,
6 there. And thank you.

7 MS. BENT: And thank you. I think that,
8 that is a -- that is a really good point, as well.

9 Let me move onto a question for
10 Amanda. And I know you spoke to this during your
11 presentation. But I wonder if you can talk a little
12 bit more about how patients can be, kind of,
13 instrumental in the rare disease product development.
14 Particularly, as you mentioned your partnering with
15 industry partners. How have you built a relationship
16 with industry partners, and what recommendations do
17 you have for others? And I know during your
18 presentation you spoke to, kind of, your monthly
19 meetings and things like that, where you are keeping
20 your industry partners engaged. But what did that look
21 like in the beginning? How did that -- can you, maybe,
22 speak a little bit to, kind of, how that evolved?

1 MS. MOORE: Yeah. I think there are two
2 different ways that I have seen it evolve. One,
3 industry will come to us very early on -- pre-
4 clinical, just to want to start engaging in a
5 conversation. They want to understand the burden of
6 the disease. They want to understand the services of
7 the foundation. They want to help and support. And so,
8 early on, they will have -- you know, connected with
9 us.

10 Or, vice versa. We will hear through
11 the pipeline that an industry partner possibly has
12 Angelman Syndrome as part of their pre-clinical work.
13 So we will reach out to them early on, and say, "We
14 want to be a partner from day one with you. Can we get
15 a call together and work on what that looks like
16 moving forward?" And you know, I think the most
17 important thing is letting them know the resources you
18 have, because you want to make sure that they
19 understand the disease. You want to be able to
20 schedule caregiver monthly -- you know, we have
21 industry who will schedule caregiver monthly calls,
22 where we have caregivers come and just talk about what

1 it is like to be a caregiver to a child with Angelman
2 Syndrome on all -- in all different ages.

3 And so, ensuring that, that is
4 happening, I think will -- ensures later on, when they
5 start getting to the clinical trial design, knowing
6 some of those things that are going to be hard on
7 patients, like, traveling, like -- you know, sleep for
8 kids with Angelman Syndrome is sometimes non-existent.
9 So having to stay overnight multiple nights in a hotel
10 to do a trial is hard. Or going under anesthesia,
11 there is issues with that. So understanding that,
12 really early on is crucial for industry and it is
13 crucial that the patient and the patient advocacy
14 organizations have that voice early on.

15 So really it is very organic at the
16 beginning. Like, let's just meet, let's become
17 friends, and let's -- you know, let's go on this
18 journey together to try to get to the finish line. But
19 also helps us, too, because it educates the patient
20 and the patient advocacy organization on all this
21 product -- you know, drug development process. Because
22 early on, when you are a new organization and a new

1 rare disease doing clinical trials for the first time,
2 there is a lot of questions that come out from the
3 community. So industry can be a great partner in that.

4 MS. BENT: Great. Thank you. I will
5 just pause to see if anyone else has anything to add
6 before I move onto another question that we have
7 received through the chat.

8 MS. FURIA-HELMS: This is Andrea. Can
9 you hear me?

10 MS. BENT: Yes.

11 MS. FURIA-HELMS: Okay. I never know if
12 -- when I am unmuted.

13 So I think, Amanda, you really outlined
14 a lot of the great ways that you have been working in
15 various -- with various partners to get those patient
16 perspectives incorporated. And I think one of the
17 things that you did highlight was, early on. And we
18 have seen, through our work and patient -- where
19 sometimes patients' and caregiver's perspective might
20 have been requested or sought out a little too late,
21 and maybe they have -- would have gone in a different
22 direction. And so, I think, you know, as you outlined

1 -- I think -- you know, working with academic experts
2 who are conducting the research in the rare disease --
3 you know, the clinical disease experts, as well. And
4 they may also be conducting clinical trials -- you
5 know, working -- with them closely -- you mentioned,
6 but as you work with industry partners, as well.
7 Especially the ones that have a particular interest in
8 rare disease.

9 But always remember that FDA has a
10 variety of activities, and initiatives, and programs,
11 now, that you can get involved and really share those
12 perspectives, as well.

13 MS. BENT: Great. Thank you, Andrea.
14 And that, kind of, ties directly into my next
15 question, that I am, kind of, going to aim towards
16 you, that we received through the chat. And those are
17 -- are patient listening sessions open to the public?
18 If so, how do we get more information on joining in?
19 And I am going to, kind of, tag team that with another
20 question that we got, which is; can you explain the
21 different types of listening sessions for patient
22 engagement, particularly listening sessions versus

1 patient focused drug development?

2 MS. FURIA-HELMS: Sure. So to the first
3 question, right now the patient listening sessions are
4 closed sessions. They are informal, and almost like
5 intimate discussions between the FDA staff and the
6 patient community that participate. So -- but, one
7 thing that we do provide is summaries after each
8 patient listening session.

9 So -- and to get to the other question
10 about the types of listening sessions, there is two
11 types. They can be requested by FDA staff, to really
12 understand and ask questions for a particular
13 sub-population of that particular disease. Or they can
14 be requested by the patient organization, where they
15 feel that they have some information and they want to
16 share their experiences and stories with FDA about --
17 you know, what is important to them, and how they are
18 managing their disease or condition with usually no --
19 but sometimes, maybe, very minimal treatment, sort of,
20 things that -- you know, they are trying to manage
21 with what is available to them.

22 Did I answer all the question? Or there

1 was another question?

2 MS. BENT: There was a little bit of a
3 question between patient focused drug development and
4 the listening sessions.

5 MS. FURIA-HELMS: Yes. So one of the
6 things -- the differences is, because our listening
7 sessions are closed, where the patient focused drug
8 development meetings are open to the public, so anyone
9 can join. And I do not know -- that this is
10 particularly interesting -- of interest to industry as
11 they indicated to us, because they want to know what
12 the conversations are and hear those perspectives and
13 what is important to patients. So that is why we make
14 those summaries available online.

15 And so I think, also, the patient focused
16 drug development meetings, because they are open to
17 the public, there is a much more representative
18 participation. So ours are usually just to have those
19 intimate conversations. They include probably seven to
20 eight patients and caregivers. Whereas, the patient
21 focused drug development meetings could have hundreds of
22 people, even, as we know, thousands, coming up soon,

1 so that you can hear from a broad range of
2 perspectives.

3 And Robin, please chime in, because
4 that is your whole initiative in your programs.

5 MS. BENT: No. It is great to be on the
6 moderator side, just asking the questions. So, no.
7 That sounds great to me. And thank you for that
8 answer.

9 I think -- I know we are coming up on
10 the end of the -- end of our time together. But I did
11 want to, maybe, Amanda one more question about
12 harnessing social media networking to promote patient
13 engagement in rare disease product development. I do
14 not -- and obviously, it is open to all of you. But I
15 wonder, Amanda, if you have any thoughts or
16 experiences with that -- any best practices or any
17 thoughts you might have?

18 MS. MOORE: Yeah. And I will try to
19 make it quick, 'cause I know we have a little bit of
20 time.

21 Social media is like a whole new world
22 for us when it comes to getting information out about

1 getting -- you know, signing up for registries, doing
2 research, helping educate families. It is interesting
3 because there is such a wide variety of platforms,
4 too. So you cannot just do Facebook. You have to go
5 across the line in all the different ways on how you
6 are educating.

7 But I -- what I do think is really
8 important is that, the messaging is able to get out
9 quicker. And I think helping people understand the
10 importance in crafting messages to your audience is
11 important. So working on when you are thinking about
12 the different platforms, what are the audiences that
13 are typically using that platform, and how are you
14 crafting those messages.

15 So we know that with Facebook, we have
16 a -- quite a wide audience of Angelman Syndrome
17 individuals. But we also know Instagram may be, now,
18 where some of our newly diagnosed people are mostly
19 participating in. So we just have to think about those
20 and how we are crafting the messages. And it is great
21 on getting research opportunities out there.

22 MS. BENT: Great. Thank you, so much.

1 And I know we are pretty much out of time. But if
2 anybody had any kind last minute thoughts on that, I
3 would certainly be happy to hear them.

4 DR. TRAN: Yeah. Can I just add, just
5 reading through the questions that came in presumably
6 from the audience in the chat. I wanted to respond to
7 a couple things very quickly.

8 One, phase one trials can involve and
9 do involve patients. We are doing in the Angelman
10 world right now. We have two. And we will soon have
11 three first-in-human trials. So that is not restricted
12 to volunteers.

13 Second thing is, that patients do and
14 can get involved in design of natural history study.
15 When we designed our study, we actually reached out to
16 the patients and the organization and asked them what
17 outcome we should include.

18 And there were a couple of questions
19 about starting natural history study. I would be happy
20 to field those question from those listeners by e-
21 mail, if they can e-mail me. And FDA can provide them
22 with my e-mail address.

1 MS. BENT: Wonderful. Wonderful. That
2 is very generous of you. So I would like to take this
3 opportunity to thank all of our panelists for coming
4 today, and really sharing their thoughts and their
5 experiences and their significant knowledge about
6 this. I hope you have a wonderful day. And I will turn
7 this back over to Lewis.

8 DR. FERMAGLICH: Great. Thank you, all.
9 We understand some viewers have been having some
10 technical issues, viewing the conference, especially
11 the presenter slides. To address these issues, please
12 close your browser and reopen the meeting link with
13 Chrome, which seems to having better results. We
14 apologize for the inconvenience.

15 We will now take a one-hour break for
16 lunch. Please rejoin us promptly at 12:45 for
17 afternoon remarks from the acting Commissioner of FDA,
18 Dr. Janet Woodcock.

19 (off the record)

20 DR. FERMAGLICH: Welcome back to FDA
21 Rare Disease Day 2021. I now have the great honor of
22 introducing our next speaker, Dr. Janet Woodcock,

1 acting commissioner of FDA.

2 Dr. Woodcock began her long and
3 distinguished FDA career in 1986 with CDER as director
4 of the Division of Biological Investigational New Drugs.
5 She also served as CDER's acting Deputy Director, and
6 later as director of the Office of Therapeutics
7 Research and Review.

8 In 1994 Dr. Woodcock was named director
9 of CDER, overseeing the Center's work, that is the
10 world's gold standard for drug approval and safety. In
11 that position she has held -- she has led many of the
12 FDA's groundbreaking drug initiatives. She also served
13 in other leadership roles at the FDA, including as
14 Deputy Commissioner and Chief Medical Officer.

15 With the onset of the COVID-19 public
16 health emergency last year, Dr. Woodcock was asked to
17 lend her expertise to Operation Warp Speed, the
18 initiative to develop therapeutics in response to the
19 current pandemic.

20 Dr. Woodcock was named acting
21 Commissioner of Food and Drugs on January 20, 2021.

22 Now, everybody knows about

1 Dr. Woodcock's extensive career at FDA, but before she
2 was overseeing their regulation, she was a practicing
3 physician prescribing medications to treat patients
4 with rare diseases. She relayed to me a story about a
5 patient she treated with a rare autoimmune disorder
6 called Bechet's Disease, which causes inflammation in the
7 blood vessels. After running out of therapeutic
8 options, she recalled trying to get access to a
9 controversial medication called Thalidomide through
10 the agency but was denied. Years later, Dr. Woodcock
11 oversaw the approval of that drug, albeit for a
12 different rare indication, leprosy.

13 Her years of service to the American
14 public started with the care of individual patients.
15 And that patient-centered approach continues to
16 motivate her up to this day.

17 So without further ado, Dr. Woodcock.

18 DR. WOODCOCK: Thank you. I am sorry. I
19 am having a little trouble unmuting myself, here. But
20 I should get back on track in a second.

21 UNIDENTIFIED SPEAKER: You sound great,
22 Dr. Woodcock.

1 DR. WOODCOCK: Pardon me?

2 UNIDENTIFIED SPEAKER: You sound great,
3 Dr. Woodcock.

4 DR. WOODCOCK: You can hear me. Yeah. I
5 just have to get back to my talk. I am very sorry,
6 folks. There we go. There.

7 I am very pleased to be with you,
8 today, to mark Rare Disease Day. And thank you for that
9 very kind introduction.

10 You know, it is, indeed, a time to
11 celebrate the enormous progress that has been made in
12 the treatment of rare diseases. And this is a result
13 of both advances in science -- and this last couple
14 decades it has been particularly fast -- and
15 collaborations among a wide range of stakeholders --
16 many of whom are part of today's celebration. So --
17 because with rare diseases, as was just said, it takes
18 a village -- it is a relay race, and we have to hand
19 off to one another.

20 Even as we celebrate successes in this
21 area, we have to remember that we still face many
22 challenges across the diverse landscape of rare

1 diseases. There are about 30 million Americans
2 affected by 7,000 known rare diseases. And the vast
3 majority do not -- still do not have approved
4 treatments. Finding answers for treatments can pose
5 enormous scientific challenges, and also be costly.

6 For example, clinical trials to
7 evaluate the safety and efficacy of medical products
8 in rare diseases can be much harder to plan, and
9 harder to conduct, than with common diseases. Both due
10 to shortage of patients, and their lack of knowledge
11 and uncertainty about the rare disease itself. And
12 these challenges have been exacerbated as a result of
13 the COVID-19 pandemic, which is an enormous
14 urgency, because people with rare diseases are among
15 the most vulnerable to COVID-19.

16 At the FDA, our mission is to protect
17 and promote the health of all Americans. An essential
18 responsibility, that mission, which we take very
19 seriously, is to find new and better ways of
20 approaching the challenge of rare disease, to lead us
21 to new treatments, and, we hope, cures.

22 And we oversee a variety of programs

1 and activities to support this work. One way that
2 people pay a lot of attention to, of course, is our
3 oversight of drug development and review of new drugs.
4 Since the passage of the Orphan Drug Act in 1983, we
5 have approved products for over 950 orphan
6 indications. This number continues to grow with rare
7 disease therapies being developed at a faster pace
8 than ever before.

9 Last year the agency approved 32 novel
10 drugs and biologics with orphan drug designation. And
11 these groundbreaking approvals included one we just
12 heard about -- the drug to treat certain people with
13 Progeria and Progeroid Laminopathies. Those are rare
14 conditions caused by genetic mutations that lead to
15 premature aging -- and this was a huge landmark
16 approval and development program -- a drug to treat
17 patients with hereditary angioedema, a rare disorder
18 characterized by recurrent episodes of severe swelling
19 most commonly in the limbs, face, intestinal tract,
20 and can be fatal in the airways, and a CAR-T cell
21 therapy to treat adult patients with relapsed or
22 refractory Mantle Cell Lymphoma, which is a rare

1 cancer and a type of Non-Hodgkin's lymphoma.

2 So those are approvals. But still,
3 another important part of FDA's work involves the
4 Orphan Drug Rare Pediatric Disease and Humanitarian
5 Use Device Designation Programs. So we have three
6 separate designation programs. Last year, we received
7 a record breaking 284 rare pediatric disease
8 designation requests. That is more than a 330 percent
9 increase from 2019.

10 The agency also focuses on the
11 development of medical devices. Particularly, efforts
12 to reduce hurdles for the pediatric device market,
13 which is a small market to begin with. And then, even
14 smaller in rare diseases. And that is the specific
15 goal, the strategic framework developed by our Center
16 for Devices and Radiologic Health, or CDRH, called
17 SHIP-MD, which is designed to support medical
18 technology innovation to meet the unique needs of
19 children and small populations.

20 But a primary focus of all the work we
21 do, but especially in the rare disease space, is to
22 engage patients. Patients are the most important

1 assets to finding solutions. Their voices, experience
2 and understanding must be integrated into all phases
3 of medical product development. And, really, in rare
4 disease, chronic disease, patients are, really, the
5 biggest experts in their own disease.

6 Now, one way we do this is through our
7 rare disease patient listening sessions, that is
8 facility by the agency's Patient Affairs staff. We
9 also have an interactive webinar series, called,
10 Orphan Grantees Unite. It connects current orphan
11 product grantees so they can share research goals and
12 stories, and further the development of orphan
13 products along the route to marketing approval. Recent
14 sessions have focused on strategies to support rare
15 disease product development during the COVID-19
16 pandemic.

17 Our focus on patient engagement has
18 underscored the importance of our continuing support
19 for research in this area. We have really heard from
20 patients that -- the work that needs to be done. And
21 one example of work we have done is testing and
22 molecular diagnosis -- this has allowed scientists to

1 pinpoint in some cases, the exact cause of some
2 genetic diseases, which may lead to development of a
3 product tailored to that patient's specific genetic
4 variant.

5 For example, CBER has started what they
6 call the Bespoke, or Individualized Gene Therapy
7 Consortium. This is intended to advance in development
8 of therapies for rare disease that affect one or a few
9 individuals. And then, these would typically have a
10 genetic basis. The goal of this project is in
11 collaboration with the Foundation for the National
12 Institutes of Health, FNIH, and the National Center
13 for Advancing Translational Sciences, or NCATS, which
14 is at NIH, is to build a standardized and efficient
15 approach for development and delivery of gene
16 therapies in these settings.

17 So we need a platform for delivering
18 gene therapies that rare disease developers can pick
19 up and use, rather than them starting from scratch and
20 developing a new gene therapy, which is an extremely
21 complex and expensive endeavor.

22 The FDA also continues to provide a

1 variety of other funding sources for important
2 research efforts that support various aspects of rare
3 disease product development. For example, our Orphan
4 Products Grants Program helps advance the development
5 of many types of orphan products by supporting both
6 clinical and natural history studies. We recently
7 announced a new request for applications for support
8 of natural history studies in rare diseases. These
9 studies can enable the standardization of data
10 collection, inform interventional trial design, and
11 selection of endpoints, and provide other critical
12 information on the course of disease that can be
13 absolutely essential to actually design interventional
14 trials.

15 Also, our Center for Biologics
16 Evaluation and Research, CBER, recently awarded a
17 contract to NORD to design and conduct a pilot rare
18 disease natural history study. It could serve as a
19 source of control data for clinical trials of
20 therapies for rare disease in situations when it is
21 not feasible or ethical to enroll and randomize
22 patients to a control arm, and a clinical trial. And

1 we do encounter these types of situations where we
2 can, perhaps, use a natural history study to construct
3 what is called an external control group.

4 And the Center for Drugs continues
5 important work with the Critical Path Institute to
6 build the Rare Disease Cures Accelerator Data
7 Analytics Platform, which will provide an integrated
8 database and analytics hub designed to promote the
9 secure sharing of existing patient-level data, because
10 one of the problems we have encountered is that in
11 rare diseases patients are followed at different
12 centers around the world, and unless we can pull this
13 information and get people to collaborate together and
14 share it, we are not going to make the progress we
15 need to make.

16 So importantly, FDA is really eager to
17 continue our work and collaboration with the rare
18 disease community, to embrace the challenges, and
19 address the significant unmet needs of patients and
20 families living with rare diseases. We are on your
21 side, and we are going to make progress.

22 And thank you, again, for your

1 participation today. I think the community push for
2 advocacy for treatment is making all the difference.
3 Thanks, very much.

4 DR. FERMAGLICH: Thank you, so much,
5 Dr. Woodcock. Our next panel will focus on strategies
6 to facilitate rare disease product development during
7 the COVID-19 pandemic, moderated by Khair ElZarrad,
8 deputy director of the Office of Medial Policy in
9 CDER.

10 Dr. ElZarrad told me that members of
11 his family were afflicted by a rare disease called
12 idiopathic pulmonary fibrosis, or IPF. He noted that
13 the causes of IPF are not fully understood, and that
14 it has an unpredictable progression pattern. His
15 personal experience helped him see not only the impact
16 of the disease on patients, but the impact on the
17 whole family. He noted, that like other severe rare
18 conditions, the unknowns are many, and patients of
19 families are in great need for more data, research and
20 more tools to handle such diseases. This made me
21 better understand that there is nothing like reliable
22 scientific evidence to guide healthcare providers,

1 patients, and families, collectively. I lead streams
2 of work around clinical trial design and conduct, and
3 I am constantly thinking of the need for good trials
4 and reliable evidence. This is especially true for
5 rare diseases, where clinical trials and the
6 generation of evidence in general could be
7 challenging.

8 Dr. ElZarrad?

9 DR. ELZARRAD: Thank you, very much,
10 for the introduction. I appreciate that. I am, first
11 of all, grateful to be part of this meeting. I have
12 been watching the meetings, and the videos, even
13 during the lunchbreak. And what a reminder, really, to
14 all of us, why we do what we do. You know, we are
15 different tools. But also, a reminder of the
16 importance of good research coming from us all. It is
17 very difficult to follow Dr. Woodcock, of course. But
18 we have an excellent panel for you that will be
19 discussing a critical topic, focused on strategies to
20 facilitate rare disease product involvement during the
21 COVID-19 pandemic.

22 On the panel today, we have Dr. Rachel

1 Sher, the vice president of Policy and Regulatory
2 Affairs from the National Organization for Rare
3 Disorders -- NORD. Followed by, Nick Johnson, the
4 associate professor and vice chair of research and the
5 Neuromuscular Division chief at the Department of
6 Neurology, Virginia Commonwealth University.
7 Dr. Christine Mueller, a medical officer at the Office
8 of Orphan Products Development at the FDA. And Chris
9 Austin, the director of the National Center for
10 Advancing Translational Sciences.

11 We are going to start today by a
12 presentation by Rachel Sher. Followed by a
13 presentation from Nick Johnson. And from there, we are
14 going to gather the panel after that to discuss a few
15 questions. And we welcome your question in the chat,
16 as well.

17 With that said, Rachel, do you want to
18 take it from there?

19 MS. SHER: Yes. Thank you. And thank
20 you, so much, for having us here today. NORD is
21 extremely excited about the FDA's Rare Disease Day
22 event, and all the fantastic Rare Disease Day events

1 that we have had throughout this past week.

2 If we could go to the first slide. Our
3 world has been forever changed by the shared
4 experience of the COVID-19 pandemic. It is has touched
5 each and every one of us in one way or another. But
6 for the rare disease community there have been unique
7 impacts. And we know this from the thousands of calls
8 for help that have been coming into NORD's offices,
9 and from our community surveys that have helped to
10 uncover the impact of COVID-19 on those living with
11 rare diseases and their loved ones.

12 Early on, NORD took a multi-pronged
13 approach to addressing the COVID-19 related challenges
14 faced by the rare disease community. We really wanted
15 to hear directly from the community, and to understand
16 the nature and extent of the issues they were
17 experiencing during this time. Part of that community
18 outreach was through our Rare Action Network, which is
19 NORD's grassroots advocacy arm. Our volunteer state
20 ambassadors hosted 128 meetings and events during
21 2020, which really helped to give us a better
22 understanding of all the challenges that people were

1 facing in the rare disease community.

2 And as you see on this slide, the word
3 cloud is populated with things that we heard
4 throughout all of those meetings. And it gives you a
5 really good glimpse of the diversity and type of
6 challenges that the rare disease community was facing.
7 Next slide, please.

8 Another part of our outreach was
9 conducting two different COVID-19 community surveys.
10 The first was released in April of last year, and the
11 second in June. And a total of 1600 respondents
12 participated in the surveys. Those surveys showed that
13 the community was overwhelmingly concerned and
14 impacted by COVID-19. Well over 90 percent of the
15 participants in both surveys indicated that they were
16 worried and had been impacted to some degree. And the
17 sources of concern and worry varied as 69 percent of
18 the respondents indicated concern about medication
19 shortages and PPE shortages, 32 percent of those
20 surveys had challenges accessing critical medical care
21 and treatment, and 79 percent of the respondents
22 recorded having had a medical appointment cancelled

1 during the pandemic. Next slide, please.

2 As the pandemic continues into 2021,
3 the concerns that mark 2020 endure, and new ones have
4 developed. More recently we have heard a major focus
5 on issues including mental health, which have been
6 stemming from the social isolation that has marked our
7 lives for over a year now, concerns about medication
8 and protective equipment persist, as do those
9 associated with long-term financial instability that
10 has occurred for so many.

11 And although there is so much
12 enthusiasm and excitement around the amazing speed in
13 which we saw not one, but now, three COVID-19 vaccines
14 become available, along with several promising
15 therapeutics, there are so many questions among the
16 rare disease community that have come up. When can I
17 get the vaccine? Is it going to be safe for me, given
18 my particular rare disease? I am a caregiver for
19 someone with a rare disease, can I receive the vaccine
20 too?

21 In January NORD was honored to host a
22 webinar featuring the FDA and the CDC to help answer

1 these questions. And that webinar is still available
2 on demand on our website. I encourage everyone to
3 watch it -- the -- everyone we heard from was just so
4 appreciative that FDA and CDC took the time to do that
5 meeting with us. So, many thanks to FDA and CDC for
6 that.

7 Another persistent concern that was all
8 the focus on the research into COVID-19 vaccines and
9 treatment, which obviously everyone wants, that there
10 will be and has been a lag in research in the rare
11 disease space. Next slide, please.

12 NORD heard this concern from the
13 community and worked to find out exactly what the
14 impact on rare disease clinical trial work has been
15 during the pandemic. Obviously, even under normal
16 non-pandemic conditions, rare disease trials can be
17 more complex than trials for common diseases and their
18 unique challenges. Patients may be hard to find.
19 Travel may be difficult, depending on the burden of
20 disease. Knowledge gaps persist about disease
21 progression. And others.

22 NORD completed an informal survey last

1 year of our corporate counsel members industry
2 partners to understand what it was like, then, during
3 the pandemic, to be conducting these clinical trials
4 in the rare disease space. And of the companies that
5 responded, 58 percent indicated that they had to
6 postpone enrollment for at least one rare disease
7 clinical trial. Only eight percent were able to
8 conduct trials as usual. And 33 percent indicated they
9 had to adapt their trials to remain on course. Forty-
10 five percent of them had already -- or anticipated
11 having to cut some of their R and D budgets as a
12 result of the pandemic.

13 NORD also hosted a webinar last year,
14 along with IQVIA -- a lot of work compiling -- data
15 around rare disease development during this time
16 period. And you see some of that data presented here.
17 This chart shows that rare disease studies that were
18 in startup and enrolling phases had to make the most
19 adjustments to continue. And they were more likely to
20 have suspended some of their study activities.
21 Clinical site closures, local restrictions, PPE
22 shortages, and safety concerns were all major

1 challenges at the time this IQVIA data was compiled.

2 Conversely, studies that were already
3 going on, or in development, were able to continue
4 with more than half of those already going not needing
5 to make significant changes in order to continue.

6 Luckily, most studies did not have to
7 completely suspend their activities, and they were
8 able to continue with some modifications. And, in
9 fact, almost no trials were put entirely on hold,
10 according to this IQVIA data. Overall, this is,
11 obviously, excellent news for rare disease patients.
12 And we think it really speaks well to how well all
13 stakeholders have worked together during the pandemic.
14 Next slide, please.

15 At least part of what has allowed so
16 much of this critical trial work to continue has been
17 the increased reliance on telehealth in both routine
18 care settings, and in clinical trial work. Amidst the
19 COVID-19 related disruptions to traditional modes of
20 care, telemedicine has really emerged as a way to
21 safely access medical care without exposure to COVID-
22 19. And again, the surveys I mentioned with regard to

1 telehealth showed that 88 percent of those who
2 responded to our survey and had been offered a
3 telehealth appointment accepted it. Ninety-two percent
4 of those individuals reported that it was a positive
5 experience. And 70 percent said they would want to
6 have the option for a telehealth appointment in the
7 future. Sixty-one percent of those said that if they
8 were going to opt out, they would do so just because
9 they prefer that face-to-face interaction with their
10 providers when it is safe.

11 Of course the move to telehealth has
12 been a long-sought goal for so many in the rare
13 disease community. This is just not a new concept for
14 them. With geographic dispersion in terms of patients
15 and specialists, many rare disease patients and their
16 families have always had to travel several hours or
17 out of state to access the medical care that they
18 need. Virtual appointments, particularly for rare
19 disease families, have saved time and money, making
20 healthcare more accessible and easier for them to
21 manage their often very complicated conditions.

22 In the wake of the pandemic NORD has

1 placed a renewed emphasis on permanently integrated
2 telehealth into our broader healthcare system. Early
3 last year, NORD issued a set of telehealth principles
4 to help guide us in this war. In our virtual COVID-19
5 discussion groups we held throughout all 50 states
6 over the course of the last year, we gained some key
7 takeaways. One of the most important -- is that
8 patients and provider choice is critical, and we have
9 got to preserve where possible. Next slide, please.

10 These changes and technological
11 advances, as I mentioned, have also been successfully
12 incorporated into the drug development and clinical
13 trial rounds. The pandemic has revealed new ways to
14 achieve the goal of ensuring clinical trials continue,
15 or simultaneously allowing patients to participate in
16 a safe, and when necessary, remote way. FDA has really
17 risen to the occasion and responded to work with
18 patients and industry and issued timely and really
19 effective guidance that has allowed this work to
20 continue.

21 Getting to the end of my time, here. I
22 will just wrap up by saying -- you know, NORD is very

1 excited about these changes that we hope will increase
2 the accessibility of these clinical trials for rare
3 disease patients throughout the ecosystem. And thank
4 you, again, for having us here on this panel.

5 DR. ELZARRAD: Thank you, so much,
6 Rachel. Very interesting to hear about NORD's activity
7 and getting back to when the patients and clinical
8 trials, as well, and the role of technology. That is
9 very interesting.

10 Following Rachel, I am going to ask
11 Nick Johnson to please start the presentation. Thank
12 you, Nick.

13 DR. JOHNSON: Great. Thanks. And I
14 think, really, what I will do is expand on a case
15 study that speaks to a lot of the issues that Rachel
16 brought up, talking about the impact of COVID-19 on a
17 large natural history study, as well as some of the
18 things that we have tried to do on the ground to
19 mitigate that risk. So you can go to the next slide,
20 which is my disclosures. Okay. And then, next slide.

21 So our study is in adult myotonic
22 dystrophy, which is an autosomal dominant condition.

1 Essentially affects every organ system in the body.
2 The core symptoms include distal weakness, myotonia,
3 early onset cataracts. But, these are patients that
4 have respiratory failure, cardiac arrhythmias,
5 significant daytime sleepiness and fatigue, irritable
6 bowel symptoms. And as I said, it is a tremendously
7 difficult condition with a number of different
8 symptoms, and a huge unmet need for these patients,
9 without otherwise disease-modifying therapy. Next
10 slide.

11 And there have been a couple of other
12 smaller natural history studies in myotonic dystrophy.
13 This is data showing, in general, that the rate of
14 change in this slowly progressive condition on the
15 ability to walk, for example, is about five to six
16 percent across the population. But that when we
17 plotted out an individual vector of each patient --
18 which you can see in the figure on the top right
19 corner -- you can see that there is a collection of
20 patients that really have a rate of progression that
21 is faster than others. And this work, really -- just
22 to give credit -- has been led through the Myotonic

1 Dystrophy Clinical Research Network, and Charles
2 Thornton at the University of Rochester.

3 But -- you know, it came to mind that
4 we needed to do a better job of understanding key
5 inclusion/exclusion criteria to better design an
6 effective clinical trial to understand potential
7 disease-modifying therapies for these patients. Next
8 slide.

9 And so, that led to our current study,
10 which is NDM1, which is to characterize myotonic
11 dystrophy type one severity and disease progression in
12 a large cohort of approximately 700 patients with
13 myotonic dystrophy type one, complete the development
14 of a key biomarker, which is muscle or any alternative
15 splicing events, to understand disease severity. And
16 then, of course, at the end of the day -- as Janet
17 Woodcock said -- our goal is to provide robust natural
18 history data to support drug approval using the FDA's
19 guidance on natural history studies. Next slide.

20 And we have been tracking fairly well,
21 and we are going reasonably well in terms of our
22 enrollment. And then, like everyone else in the world,

1 we had -- so before COVID-19 we had nine sites
2 activated, and 150 participants had been enrolled as
3 of February 2020. And here we are about a year
4 later -- but I think everybody remembers March 2020 --
5 all of our sites halted all enrollment in March 2020.
6 And that was -- it was that way until, at least, July
7 2020. In July we were able to begin enrollment very
8 slowly, only at five sites. And since that time,
9 really across those nine sites, people have had their
10 sites open, and they have had to close. And so
11 enrollment has been quite halting. And then, several
12 key study endpoints, including spirometry, have been
13 unable to be completed because of infection control
14 issues at the individual sites with the COVID-19.

15 So we estimated that we financially
16 lost -- or there is an additional cost of \$75,000 to
17 the study. Probably more important to us is that we
18 lost five trained clinical research coordinators, two
19 clinical evaluators, and one PI due to institutional
20 funding and prioritization during the COVID-19. Which
21 has been each time you go through another process of
22 retraining, and of course, finding new people. Next

1 slide.

2 Here are a few of the things that we
3 have tried to implement to deal with the COVID-19
4 pandemic in this important natural history study. So
5 we have expanded our visit windows from plus or minus
6 two weeks, to plus or minus two months. We have been
7 able to add travel reimbursement. As Rachel mentioned,
8 this is a significant issue to begin with and
9 with -- twice as significant or more during the COVID-
10 19. Next slide.

11 We have -- like I think so many
12 others -- have embraced, to the extent we are able to,
13 remote assessments. Particularly, we are able to have
14 participants do their spirometry, which is the key
15 outcome measure that was unable to be completed
16 previously -- or still, really, in person -- by
17 having -- you know, mailing people this remote
18 spirometer with an iPad. We watch them do it at the
19 same time. We do still require in person functional
20 assessments. But we have engaged in several pilots to
21 start to understand remote functional assessments. In
22 neuromuscular disease, the ability to watch somebody

1 walk and push on their muscles is such an important
2 part. So we are taking the time and care to do this
3 with diligence and ensure that these outcome measures
4 are as reliable as they were previously. And then, of
5 course, we are doing remote evaluator and training to
6 ensure a continued quality. Which we would have
7 normally done every year in person, we are now doing
8 remotely. Next slide.

9 One of the things, again, from a
10 strategic standpoint, is that we have had to try and
11 build -- or add a number of sites that we are able to
12 enroll. So sites, typically, are able to enroll two to
13 three participants per month in normal times. Our
14 delay due to the COVID-19 means that the original
15 sites will not complete enrollment during the grant
16 period. And so -- you know, we have chose to add two
17 additional U.S. sites, and five E.U. sites. You can
18 see the graph of participant enrollment. And with that
19 addition of sites, we are going to be able to track
20 enrollment faster by -- again, by adding sites. We
21 were able to do this, of course -- adding sites --
22 because a lot of the activity is remote anyways. So we

1 can do that during shutdown and from home. And as
2 sites come online -- you know, we will be able to
3 complete study enrollment more quickly. Next slide.

4 And then, we could not do this -- there
5 is -- you know, beyond the exact loss of -- or
6 additional cost to the original sites -- the ability
7 to add new sites, to retrain evaluators. Each site
8 costs about \$125,000. And there are, of course,
9 additional costs for remote assessments. And so we
10 have worked to create a pretty competitive
11 partnership, and to essentially speed therapeutic
12 developments. And ultimately, this will provide
13 earlier access to data, samples, and know-how to --
14 like I said, to speed the development of treatment for
15 patients.

16 And so, of course, much thanks and
17 recognition goes to the FDA for -- as the original
18 sponsor of this study, along with the Myotonic
19 Dystrophy Foundation. But we have had three
20 pharmaceutical companies join in sponsoring the study
21 to cover and defray the additional costs and work
22 together to bring multiple different therapeutic

1 choices to patients. And last slide.

2 And so with all that, these are the
3 efforts that our study NDM1 has taken to try to
4 mitigate the challenges that we have seen in this
5 natural history study during COVID-19. And of course,
6 even though I am the one here speaking with you, lots
7 of thanks goes to my co-principal investigator,
8 Dr. Charles Thornton, as well as all the different
9 site investigators, which you can see on the map from
10 the United States and Europe, our study team, and of
11 course our sponsored program VCU, who has helped us
12 with pivoting all of these subawards and moving them
13 forward.

14 Thank you.

15 DR. ELZARRAD: Thank you, so much,
16 Nick. That was very interesting, actual examples
17 actually of the impact of the pandemic on research.
18 So appreciate that.

19 I am going to ask Christine and Chris
20 if they can open their camera and join the panel, as
21 well, for us. Hello, everybody. Thank you.

22 So we have heard quite a bit, now,

1 about how the pandemic is really impacting our life.
2 But you especially have -- how has your work been
3 impacted by the COVID-19 pandemic? And what changes
4 has it necessitated? I am going to ask Chris to start
5 us with that. You know, you worked at NCATS and NIH
6 and, kind of, that leadership spectrum. How did you
7 see the work being impacted? And maybe you can give us
8 some examples of that.

9 DR. AUSTIN: Well, thank you. And it is
10 great to be here with you. And thanks to Rachel and
11 Nick for those presentations, 'cause they really
12 encapsulate two very important features that we have
13 noticed are general principals.

14 And our work with patient groups and
15 researchers, they have also found really considerable
16 disruptions in the work that they have been able to
17 do. As you heard from Nick, most clinical research
18 sites and most laboratories -- we have not talked
19 about that, yet. But most laboratories shut down and
20 did not allow people in their buildings until some
21 time in the summer. And I am fond of saying that
22 though COVID was important -- it is important -- and I

1 will get to that how that has also affected us -- at
2 NCATS there are 6,999 other diseases that are not
3 taking a vacation because of COVID. And so I have
4 pushed my organization that -- as I think you all
5 know -- is the epicenter of rare diseases research at
6 the NIH -- to keep that work going as much as
7 possible, support our extra -- researchers in every way
8 that we can.

9 But we also did our own survey through
10 the Rare Disease Clinical Research Network to -- with
11 slightly different questions from the ones that Rachel
12 talked about -- but very, very similar answers. And
13 we, I think, like NORD, are writing that up. And that
14 will be published shortly. And one of the reasons we
15 wanted to do that was that we wanted to understand
16 both the good and the bad from this pandemic. We
17 wanted to understand the direct impact that COVID had,
18 had on rare disease patients. And of course, our
19 primary -- our first concern was that rare disease
20 patients would be disproportionately affected --
21 infected and affected medically by COVID. Our data, at
22 least, has -- does not suggest that, that is the case.

1 agree with what Rachel said. You know, we have, on
2 behalf of ourselves in innovation and transitional
3 science, and on the behalf of rare disease patients
4 who we work with every single day -- have been arguing
5 that more remote trials and more remote monitoring
6 technologies of the sort that Nick talked about,
7 should be possible.

8 Human beings, as we know, are loathe to
9 change, however. And as a good friend of mine likes to
10 say, "People do not change when they see the light.
11 They change when they feel the heat." And in this
12 case, the heat was COVID. And I think our challenge,
13 now, as a community is to work with the legal and
14 regulatory communities and the pair communities to try
15 to maintain as many of those authorities as possible.

16 I was really heartened yesterday to see
17 the announcement from CMS that they are working with
18 the Congress to try to change some of the legislation
19 that prevents this from happening. I think a lot of
20 people do not realize that there are regulations and
21 laws in place which prevent a lot of these things,
22 which, now, are technologically possible. They were

1 put in place for very good reasons. But I think the
2 time has come to -- where we have shown ourselves we
3 can do this, and we need to continue us.

4 I guess, the last thing I would say is
5 that -- you know, at NCATS, we have, like most of the
6 National Institutes of Health, have pivoted most of
7 what we do -- not our rare disease forum -- but of
8 course, over the last year, I would say 80 percent of
9 my time and my colleagues' time, here, at NCATS, has
10 been pivoted to COVID-19. And I think that has been
11 the right thing to do. And we have played a major in
12 the response we are now seeing benefits from. But I
13 like to say that this is a time when I think all of us
14 as a community -- the rare disease community, need to
15 push the point that is going to make people
16 uncomfortable. But I think we need to do that. We need
17 to get people out of their comfort zone, have them
18 feel the heat, if you will. Which is that, we as a
19 biological -- biomedical community -- patient
20 community -- society, moved Heaven and Earth to
21 develop diagnostics and therapeutics for COVID-19 in
22 record time. And what that shows is that the

1 translational system can work -- really can work much
2 faster than it normally does. We have known this is
3 possible at NCATS for a very long time. Of course, it
4 is our mission to do that. And some of it is held back
5 by science. But a lot of it is held back by people
6 issues and funding issues.

7 And so, I think the time for the
8 community to say in an unabashed way, "Don't we count
9 as much as patients with COVID-19? And if our lives
10 are as valuable as those with COVID-19, then we
11 deserve the same kind of movement of Heaven and Earth
12 that happened with COVID-19" And --

13 DR. ELZARRAD: Hopefully, all will push
14 us towards being more proactive than reactive.

15 DR. AUSTIN: Yeah. So that is what we
16 are up to, here.

17 DR. ELZARRAD: Thank you. Thank you, so
18 much. Christine, continuing with the federal theme,
19 from NIH to FDA, how do you say your office has been
20 impacted in the processes that you have to employ, in
21 general?

22 DR. MUELLER: Good afternoon, everyone.

1 I am thankful to be here today. And as Khair mentioned
2 earlier, I am a medical officer in the FDA Office of
3 Orphan Products Development, and a clinical geneticist
4 by training.

5 We provide grants for clinical trials
6 and natural histories studies to defray the costs of
7 developing drugs, devices, medical biologics and
8 medical foods for rare diseases. We started
9 hearing -- like everyone has mentioned -- concerns
10 about study progress when the COVID-19 pandemic began
11 last March. Early on, we started tracking the issues
12 our studies were having due to the pandemic and how
13 grantees were addressing them, from study suspensions,
14 study completion delays -- as Chris mentioned -- or
15 terminations, changes needed to informed consent,
16 protocol deviations, and study endpoints not being
17 assessed, protocol amendments being needed, monitoring
18 changes being needed, and study sites dropping or
19 needed to be added -- like Nick mentioned in his
20 natural history study -- changes that were needed for
21 product delivery, travel issues patients were having
22 and their caregivers were having, loss of patients due

1 to disease progression, and budget implications to our
2 grants. Of 71 of our ongoing grants, 63 clinical
3 trials and eight natural history studies, 79 percent
4 had some effect due to the pandemic. Many studies
5 stopped in March enrolling new patients and/or
6 following patients already on study as per protocol at
7 one or more sites, really based often on the effects
8 of the pandemic, geographically, the kind of study
9 being done, and institutional needs -- as Nick
10 mentioned with his study -- related to the pandemic in
11 terms of staffing, and supplies, laboratory necessity
12 or study imaging. And as we all know, enrolling and
13 completing rare disease studies is already challenging
14 on many fronts, including due to small patient
15 populations. Really in working with our grantees
16 during the pandemic, we have been looking at
17 preserving time, invested resources, the effort of
18 participants that have already been enrolled or
19 completed, and completing studies with flexibility in
20 mind, where appropriate. Really, the safety of trial
21 participants and study staff is the most important factor
22 in doing so, by us, and institutionally. And really

1 limiting potential exposure to COVID-19 and avoiding
2 interference with clinical care for COVID-19. And you
3 know, continuing study activities, perhaps, virtually
4 or in person where feasible when benefits greater than
5 risk for patients, but while still maintaining -- you
6 know, compliance with the clinical practice and
7 minimizing risk to trial integrity.

8 Early on we also provided our grantees
9 with the FDA guidance on the conduct of clinical
10 trials of medical products during the COVID-19
11 pandemic, which was issued early in March last year,
12 and has been updated several times, including a
13 question and answer section.

14 We have encouraged our grantees to
15 consider their circumstance with, really, a focus on
16 the potential impact on study participant safety, like
17 I said before, while minimizing impacts to the
18 integrity of the study, as outlined in the guidance,
19 and in collaboration with us as project officers and
20 their respective FDA medical product centers.

21 To better help them navigate
22 challenges, we have also had two Grantee Unite

1 meetings to facilitate discussions between them and
2 the challenges they faced with their studies, and ways
3 they worked to adjust those challenges -- you know,
4 within their institutions and across sites, if they
5 have multiple sites in their studies. The topics that
6 grantees have discussed have been -- you know,
7 included decisions related to where enrollment
8 continued at some sites and not others, what study
9 processes were being done at a distance for current or
10 new enrollees. And how protocol deviations were being
11 changed or managed. We have formed a working group
12 from these discussions with the grantees through the
13 Unite meetings. And we also continue to monitor the
14 impact of the pandemic on our grants as the landscape,
15 as everyone knows, is changing over time, as well.

16 DR. ELZARRAD: Thank you, for that.
17 Thank you, Christine, highlighted how -- you know, our
18 work -- you have to look at our work and how the
19 function around it happen -- internally as well. And
20 see how we can operate within our organizations. Thank
21 you for that.

22 Rachel, do you want to add something to

1 the perspective?

2 MS. SHER: Yeah. I mean, we started out
3 our year -- and to be clear -- I -- my role at NORD is
4 overseeing all of the policy work that we do. We have
5 different departments at NORD. And all of NORD has
6 been impacted in one way or the other and had to
7 shift. And I will touch on a couple of those areas.
8 But from the policy perspective -- you know, as I
9 mentioned in my presentation -- we completely shifted
10 what we worked on. We started out the year -- you
11 know, anticipating a lot of activity around drug
12 pricing, and the Orphan Drug Act, and things like
13 that, and it just all changed. So we spent a ton of
14 our time in the policy department working on a lot of
15 these changes that were necessary, particularly with
16 respect to telehealth on the care delivery side at the
17 state and federal level. I mean, there has just been a
18 ton of activity. And to echo what Chris said -- I
19 mean, it is incredibly complicated, and there is a lot
20 that needs to go into facilitating the effective use
21 of these remote technologies in care, and, obviously,
22 in the clinical trial ground. So we spent a lot of

1 time on that.

2 And then -- you know, just a lot of
3 time helping the rare disease community understand
4 what therapeutics are out there, what vaccines are out
5 there, and the impact on them.

6 The other shift that we have had to
7 make in the policy realm, is so much of our work had
8 previously been done in person, whether that means
9 going to Capitol Hill to meet with offices there, or
10 through our Rare Action Network, we had in-person
11 events with state legislators all the time. So all of
12 that shifted in a way that -- obviously, you lose a
13 lot through in-person interaction, but the events that
14 we have been able to hold just this past two weeks
15 around Rare Disease Day -- we held dozens of virtual
16 events with state law makers, state policy makers, and
17 our ambassadors in each state, that have gone quite
18 well. So I mean, I think we are making the best of it.

19 NORD was able to stand up a COVID
20 Assistance Fund, that we have been able to help many,
21 many people in the patient community with that. And a
22 lot of the work that NORD has done, I think, has come

1 to a forefront, here, during the pandemic, with
2 respect to research. Our IM Rare Registry that
3 Dr. Woodcock mentioned -- you know, I think has been a
4 source of white light, that this is the type of
5 ongoing research that can and has continued throughout
6 the pandemic. You know, patients own these registries
7 and continue to contribute data to them throughout the
8 pandemic.

9 So lots of changes. And I think that
10 everyone has a lot to learn going forward, that -- you
11 know, in all of these realms that we are working on.
12 But hopefully, in the end, for the better.

13 DR. ELZARRAD: Thank you, for that.
14 Nick, I am going to ask you to add your perspective
15 from an academic situation. And I want to highlight,
16 too, that we have -- if we would have any questions,
17 please put it in the chat, and we will try our best to
18 incorporate any questions that I see.

19 Nick?

20 DR. JOHNSON: Yeah. I think we talked a
21 lot about the challenges. Two bits of silver lining.
22 Number one, my colleagues -- the other investigators

1 at the site would have had to fly to meet each
2 other -- you know, once every six months or a year. We
3 now see each other routinely, once a month. I think
4 those -- that dialog and, kind of, the richness of the
5 scientific discussion has definitely been a silver
6 lining. And then, just to really emphasis that -- you
7 know, we were definitely caught flat-footed, in terms
8 of our ability to bring outcome measures into a
9 patient's home. But having watched us pilot some of
10 these outcome measures in the home, you get a better
11 sense of true real-world evidence. So I am very
12 optimistic that over time, and with -- you know,
13 increasing reliability and validity data, we will be
14 able to really get a better sense of what actually is
15 important. I mean, we are very good at watching people
16 run down a hallway, but not their hallway. And so --
17 which is really what is important at the end of the
18 day. So I think there is a lot of benefit coming out
19 of this.

20 DR. AUSTIN: Yeah. And if I may, I want
21 to add something to Nick -- what Nick just said. As a
22 result of demonstrations on the part of investigators,

1 like Nick, we have been able to generate enough
2 enthusiasm, here, to come out with what we think is
3 going to be a really -- I will use a non-scientific
4 term -- cool request for proposals, which incorporates
5 not only remote sensing technologies, but what are
6 called haptics, which are what you use in your -- if
7 you have an iWatch -- or Apple watch -- or you have a
8 fit -- something that can track your movements, and
9 even a virtual reality kinds of applications. And that
10 is focused on rare diseases. So it has opened up, for
11 us, a whole area of research that we are really
12 excited about.

13 I mean, as Nick said, we got a long way
14 to go from a six-minute walk text and watching kids
15 run up and down the hall, to having sophisticated
16 in-person haptics. But that is going to -- that could
17 break open the whole field, particularly for a lot of
18 the neuro-behavioral disorders. I mean, when we do a
19 lot of work with the autism spectrum community -- I
20 think about the Angelman community among them -- where
21 these children have very characteristic behaviors. And
22 if you know what you are looking for, you can say,

1 "Yes. I recognize that." But trying to write that
2 down, is really difficult, even though a parent can
3 tell you in a second. And so trying to be able to
4 capture those things -- and then, improvement that FDA
5 would take as an outcome measure is really an exciting
6 frontier that we are beginning to start on now. And it
7 is -- I think it would have started. Like a lot of
8 things, it would have happened without COVID. But
9 COVID has really helped.

10 The other thing I think is really going
11 to be interesting to watch -- and I do not know
12 what -- how this going to turn out -- but like Rachel
13 said -- and you heard from Nick, and from Christine --
14 the connectedness -- first of all, the social
15 separation has driven us insane. And from -- as the
16 standpoint of human beings. I mean, I think we are
17 built to enjoy interpersonal contact with three
18 dimensional people in the room. On the other hand, the
19 rare disease community, in my view, has in the past
20 suffered from fractiousness, and not being able to
21 have a coherent unified message that, we are a rare
22 disease community. We are all zebras, hence the tie.

1 And we have more in common than we do separate. And a
2 house divided against itself will fall. A house united
3 can do amazing things, especially when there are 30
4 million people in that house. And we have seen -- like
5 Rachel has said -- unprecedented numbers of people and
6 repeatedly -- frequency of tying together of
7 communities that would have had to fly to see each
8 other before. And especially rare disease patients.
9 You know, it is hard to get around. Our rare disease
10 celebration -- Rare Disease Day at NIH, that our FDA
11 colleagues participated in -- thank you -- we had
12 3,000 people attend that. Our previous number total,
13 in-person and online was 1,500. So it was double the
14 number of people we have had in one year.

15 And so, that is the kind of thing going
16 forward the rare disease community can really benefit
17 from.

18 DR. ELZARRAD: Well, thank you for
19 that. I think this will be a really good pivot for us
20 to start thinking -- taking that perspective in
21 general, and what areas we can really -- move forward,
22 now in more of an action, kind of, based. What do you

1 guys see that the main take-away, practically, from
2 this pandemic in the relation to the conduct of
3 clinical trials? Christine, you mentioned, for
4 example, the importance of the integrity of the trial.
5 That clinical trial, for example, will maintain a
6 level of integrity that will provide us with data that
7 we can rely on, regardless of the situation. And I am
8 just wondering what do you guys see as an important
9 aspect we learned from this pandemic? And if so, what
10 we can take forward?

11 Maybe, starting with you, Christine.
12 You mentioned the guidance the FDA had. And maybe
13 extend on that a little.

14 DR. MUELLER: Yeah. And I think I will,
15 sort of, tag onto Nick, in saying -- you know, if
16 there is going to be a silver lining to the pandemic,
17 it is really going to be to take what we have
18 learned -- you know, the things that benefit patients,
19 make participation in a clinical study more convenient
20 and efficient in the end, and see how those changes
21 can really be integrated in the studies, even when it
22 is not by necessity, as it has been with the pandemic.

1 You know, we have said before,
2 enrolling and completing rare disease studies is
3 challenging, really due to the geographic dispersion
4 of patients, the small number of patients, and as well
5 as really disease and caregiver related burdens often
6 associated for the -- with the need to travel. And I
7 think we are hopefully that virtual and remote
8 enrollment and visits, as well as things like the
9 collaborations between multiple study sites, all these
10 systems for data sharing between sites, and local
11 assessments really were appropriate, will help
12 increase -- you know, enrollment, improve study
13 assessments, patients completing studies, and really
14 allow for rare disease clinical trials, overall, to be
15 more efficient in the future.

16 You know, I guess, one thing to keep in
17 mind is that as a country we often do not recognize
18 that folks do have technological disparities, as well.
19 So I think as a community, that is something to, kind
20 of, keep in mind and address. And like you said,
21 Khair, I think the biggest -- you know, concern for
22 us, is in doing all of those, that we maintain trial

1 integrity, and that -- you know, assessments are
2 validated, and there is quality between assessments
3 that are potentially being done locally versus at one
4 site or multiple sites, as well.

5 DR. ELZARRAD: Thank you. Thank you.
6 All that is some really important issues. And I, kind
7 of, think of, sometimes, cell phones, as -- you know,
8 unifying factors, as everybody has a cell phone. But
9 I was correctly, very simply, that even the signal is
10 not available to everybody in an equal way. So thank
11 you for that.

12 DR. MUELLER: Yeah.

13 DR. ELZARRAD: Nick, you kind of
14 touched a little bit of that in your presentation. But
15 can you expand for us, a little bit on this, too?

16 DR. JOHNSON: Yeah. I mean, I think --
17 you know, moving forward and being able to accelerate
18 progress, I think the kind of pre-competitive
19 collaboration we were able to, kind of, use the
20 opportunity and the need, really, to drive progress
21 forward, I think -- to continue to accelerate.

22 You know, touching on what -- something

1 that Christine says -- one of the real challenges is
2 to maintain study integrity. We made decisions out of
3 necessity this -- during the pandemic, and I'm hopeful
4 that they were correct decisions -- and we are going
5 to end up with data that suggests that, that might be
6 true or not true. But I am a little bit cautious in
7 making sure we do not put the cart before the horse,
8 in terms of some of the remote assessments, and making
9 sure that we -- you know, continue to collect that in
10 a reliable fashion moving forward.

11 So -- and then, also -- you know,
12 again, one of the great things that we have done --
13 and Christine hit on it, as well -- is that the
14 ability to use our central radars -- to hop on camera
15 with a local site and be able to really watch
16 reliability exactly at that moment, which is, I think,
17 going to provide a better quality across the board. So
18 lots of ability with technology.

19 But I suspect that we are not as fast
20 as we think we are, in terms of getting if off the
21 ground, unfortunately.

22 DR. AUSTIN: Yeah. And let me just add

1 one thing to that. I think, not only are -- what Nick
2 said -- and this is preaching to a choir, 'cause this
3 is an FDA meeting -- but there is this belief out
4 there that a Fitbit readout is an FDA approvable
5 endpoint. And it has got to be a validated readout,
6 just like anything else. And I think we need -- you
7 know, all of us tend to -- we over-rely on our
8 technology, I suppose. So we tend to actually trust
9 what it is telling us. And it -- but FDA should not
10 take that as gospel, any more than it takes anything
11 else. And so -- well, we need to make that point to
12 researchers, 'cause I think I often find that academic
13 researchers, at least, do not understand the
14 difference between academic acceptability and
15 regulatory acceptability. They are really quite
16 different things.

17 The other thing I want to mention,
18 which I think is really important on the technology
19 accessibility issues, is something, actually, that
20 Dr. Woodcock has talked about and written about in the
21 context of COVID. And she and I have talked about this
22 a lot, given that we have been in the trenches

1 together on COVID -- which is -- what we have learned
2 through COVID is our -- the academic medical centers
3 that we know and love and trained in -- including the
4 Virginia Commonwealth University prominently among
5 them -- is they are wonderful meccas of innovation,
6 and medical care. But for clinical trials, they are --
7 let's just face it -- not ideal for patients to have
8 to do these pilgrimages to them, at least, with the
9 reportativity -- sorry -- the regularity we would
10 like. And they are actually not that great at
11 recruiting for clinical trials. That is what we have
12 found, for a variety of reasons. And so what we have
13 realized is that those academic centers -- and VCU is
14 one among them -- that they virtually all do -- they
15 all have satellite centers in the community. And that
16 is where the patients want to go. And that is where
17 they are seen. But we have not tended to do research
18 there -- at least, research of the sort that we are
19 talking about.

20 And so -- and that will have multiple
21 benefits. You know, one, it will disseminate the joys
22 of research -- and they really are the joys of

1 research -- to more people in the community. It will
2 be different kinds of research, of course -- but to
3 the community. Secondly, it will spread the resources
4 of NIH to more communities, which is a good thing.
5 Thirdly, it will allow the kinds of technologies that
6 we are talking about, to not have to go to everybody's
7 home. You know, if you can go to your community health
8 center and use those resources and connect them with
9 the academic health center, like where Nick is, who is
10 actually running the trial, that could be a really
11 potent model.

12 So I think what you are going to see
13 after COVID is a lot of rethinking about what our
14 nationwide clinical trial infrastructure looks like.
15 And I think that is a very good thing.

16 DR. ELZARRAD: Yeah. Thank you for
17 that. Rachel, do you want to add to that?

18 MS. SHER: Yeah. Just to, briefly, echo
19 a lot of the things my fellow panelists have been
20 saying. I mean, I think it is clear there is a lot of
21 enthusiasm, particularly in the rare disease space,
22 for this move to broader use of these remote

1 technologies in the context of clinical trials,
2 without a doubt. But I think everyone has hit on a
3 couple of things that I think we all have to think
4 clearly about, and patients need to understand and be
5 concerned about, which is the validation of all of
6 these tools. And FDA, again, has done a fantastic job
7 getting out these guidances, and working with patients
8 and industry to get their best advice out there during
9 these situations. But I think it is going to take a
10 lot of time and resources that FDA needs to have to be
11 able to continue this work. And really, think about
12 what aspects that have worked during the pandemic
13 should be carried forward, and which one should not.

14 So you know, from a patient
15 perspective, we need to care as much about ensuring
16 all of these tools are validated and acceptable and
17 are going to lead to the same level of safe and
18 effective treatments, ultimately, for the rare disease
19 community. We cannot let ourselves get, sort of,
20 overexcited about the use of these technologies during
21 the pandemic, to the detriment of -- you know,
22 ultimately these products.

1 The other thing I just want to really
2 hit on that we heard a lot during our work last year,
3 hearing directly from the community, is the
4 disparities issue. There is -- it is not uniform
5 across the country, in terms of access to these
6 technologies. We had one meeting in Montana where they
7 needed to go to the local school to get adequate
8 broadband access to even be able to use the school's
9 computers, when obviously the school was empty at the
10 time in the peak of the pandemic. So I mean, that is
11 something that we really need to keep in mind with
12 this work going forward.

13 And the other thing that we heard very
14 loud and clear is that we also do not want a system
15 that tips in the opposite direction, so that patients
16 are, sort of, pushed into remote technologies when
17 they would much prefer to see their provider in-
18 person. So that concept -- and this is reflected in
19 our principals -- that concept of patient and provider
20 choice, preserving that, is going to be really
21 important. And I think that has a role in the
22 conversation about the use of these technologies in a

1 clinical trial setting view.

2 DR. ELZARRAD: That is a great point.

3 Thank you for that.

4 Actually, something you said and
5 something Christine mentioned, too -- it reminded me
6 of the multiple efforts that were ongoing around the
7 clinic trials. I was in a meeting recently for the
8 National Academies and we are in clinical trial 2013,
9 how we envisioned clinical trials. I know CTTI,
10 Clinical Trial Transformation Initiative, have another
11 clinical trial -- so work streams -- and a lot of the
12 work -- and when I was meeting, I sensed some kind of
13 frustration, because we feel like we know where we
14 should be heading in a lot of aspects, at least. And
15 we know that two years ago, when we -- all of us feel
16 a shift has to happen. And I was wondering, how do
17 you guys see us in -- you know, 10 years from now --
18 in 2030, how do you see the clinical trial
19 infrastructure -- you know, relevant to rare disease,
20 but also beyond, moving forward? Where do we need to
21 go? And what factors need to get us there? I know we
22 can have a whole meeting around that. But I was

1 wondering if each one of you can maybe touch a little
2 bit in how -- what is your vision of the future, and
3 how can we get there? Starting with you, Chris?

4 DR. AUSTIN: Well, I will give you two
5 views. I feel like Dickens in a Christmas Carol, here.
6 The -- or -- and two alternative outcomes. The outcome
7 that is possible, and the outcome that will happen
8 unless we take proactive steps to make it a reality.

9 The one that could happen is the one
10 that Rachel was just talking about. And I think the
11 technologies are there, now. I think the realizations
12 are there now about how to have a more democratized --
13 if you will -- and flexible system that does rely on
14 technology -- appropriate technology -- validated
15 technology, when it is appropriate. But we do not
16 force that into situations that are not appropriate.
17 But that results in a more rapid and inclusive
18 recruitment and retention in clinical trials, because
19 we reduce the burden on patients. Particularly rare
20 disease patients who are already dealing with all
21 kinds of issues. So I think that could be a really,
22 really good outcome.

1 What I fear is that we will do as human
2 species what we often do -- which is that our memories
3 are short, and we will go back to what we are
4 comfortable with and what our current infrastructure
5 supports. And that would be a tragedy, I think. And
6 so, I think we have to all realize that for these
7 changes to happen, we have to go beyond Gandhi,
8 for -- that is, to be the change you want to see in
9 the world, you have to advocate for it with payers and
10 congress, if you are able to -- I am not. And neither
11 are you, can do, but others can. And I think something
12 that I have talked to Dr. Woodcock, actually, about
13 that comes to mind here, is that -- and I hope this
14 does not sound pejorative, 'cause I do not mean it
15 that way. That a lot of people in the current system
16 are very comfortable. Things are okay for them. And
17 for them, they do not want the system to change. You
18 know, the system is optimized to perform in its
19 current form. And so, those systems are very resistant
20 to change because a lot of people benefit from the
21 current system. A disrupted system is going to disrupt
22 a lot of people, and they are not going to like that.

1 And so they will -- even if they know it is the right
2 thing, they will not want to do it and they will fight
3 it. And so we have to think -- I think, proactively,
4 who is going to be negatively affected, and how can we
5 bring them into the conversation and make them
6 partners, and say, "Well, gosh, you know, we still
7 need you. We just need you doing B, instead of A." I
8 think that is really important. But it is not going to
9 happen by itself.

10 DR. ELZARRAD: Thank you, for that.

11 Rachel, I am wondering your perspective on that, too?

12 MS. SHER: Yeah. I mean, I think to the
13 question of how do we envision this 10 years from now,
14 ideally -- and I agree with Chris, that there is, sort
15 of -- there is the ideal system, which hopefully we
16 will get to. But then, there is -- you know, who knows
17 what the other one looks like. But ideally, we would
18 get to a point where all of these tools that we are
19 talking about are validated, available, and used where
20 appropriate. And then, there is also another route
21 for -- you know, patients, for instance, who are
22 participating in a clinical trial close to the site,

1 that they can go there. And we can have it be both
2 ways. I mean, and that same concept applies, as I
3 mentioned, on the access to routine care. There is
4 not -- particularly, when it comes to rare diseases,
5 there is not a one-size-fits-all approach. So in our
6 mind, ideally, we would have a system that can
7 accommodate both the use of these technologies, but
8 also -- you know, routine and direct access to
9 providers and clinical trial sites where that is
10 appropriate.

11 DR. ELZARRAD: Yeah. The -- and
12 technology. I see, Nick, you are shaking your head. Do
13 you want to follow up to that?

14 DR. JOHNSON: Yeah. I mean, I think the
15 best-case scenario is that we are using outcome
16 measures that really capture what is really important
17 to the patient -- you know, when it happens, and a,
18 kind of on-demand -- I think there is a lot of
19 opportunity for that. I think -- you know, we will
20 have to work diligently, both in terms of validating
21 that, and then, also providing the structure to make
22 sure that with these disparities that we see, in terms

1 of our trial recruitment, that are already an issue in
2 rare disease, do not get worse, because we are -- you
3 know, doubling down on issues that systemically exist.
4 So I think there is a lot of opportunity, but -- you
5 know, it is going to take a united effort, and a lot
6 of people working on this. So -- I am excited, though.

7 DR. ELZARRAD: Thanks for that.

8 Christine, do you want to provide your perspective on
9 this, too?

10 DR. MUELLER: Yeah. I mean, I agree
11 with everyone. I think -- you know, from the FDA
12 perspective we want to develop safe and effective
13 treatments for rare disease patients, and -- you know,
14 make sure that is done in -- you know, fine-tuned
15 ways, in terms of the validation of outcomes, and
16 assessments, and -- you know, also being flexible in
17 terms of what patients want, in terms of where they go
18 to be assessed, of course. I guess, as a clinical
19 geneticist and someone who grew up in a rural area, as
20 an aside, I would just, sort of, caveat all of that
21 with, like -- you know, that maybe we need some more
22 infrastructure in those areas in doing this, as well.

1 You know, not just that we are relying on the
2 infrastructure that we have right now. But you know,
3 some of that needs to be built for patients in some
4 areas of the county so that they have the expertise
5 and -- you know, the adequate lab assessments in their
6 areas, compared to academic centers.

7 DR. ELZARRAD: Thank you. I know, we
8 are out of time. I appreciate you highlighting quite a
9 bit of actually how we can shape the future,
10 hopefully, and take these lessons we learned through
11 this nasty year, now, into the future with us. And
12 hopefully, we can see that materializing in the next
13 10 years, and all of us can, hopefully, be part of the
14 solution, too.

15 So, again, thank you, so much. I
16 appreciate your time. I have learned a lot. I hope our
17 audience learned a lot, as well. And I am going to
18 turn it back to Lewis at this point. Thank you, so
19 much, all.

20 DR. AUSTIN: Thank you for having us.

21 DR. FERMAGLICH: Great. Thank you, all.

22 We will now take a 10-minute break. Please rejoin us

1 at 2:10 for our next panel.

2 (Off the record)

3 DR. FERAGLICH: For our final panel of
4 the day, we will get to hear directly from the FDA
5 Center directors about the challenges and
6 opportunities they see for rare disease product
7 development.

8 This panel will be moderated by
9 Dr. Erika Torjusen, the director of Pediatric Device
10 Consortia and Rare Pediatric Disease and Humanitarian
11 Use Device Designation Programs. During her training
12 in general pediatrics, and sub-specialty training in
13 allergy/immunology, she not only learned how to
14 clinically care for patients with rare disease, such as
15 cystic fibrosis, and severe combined immune
16 deficiency, or SCID, but also gained an appreciation
17 for the many challenges that patients and families
18 face throughout their rare disease journey. Impressed
19 by their strength and bravery, she is grateful for the
20 opportunity to work in the Office of Orphan Products
21 Development to create a public health impact for the
22 patients who inspired her career.

1 Dr. Torjusen?

2 DR. TORJUSEN: Thank you,

3 Dr. Fermaglich. Good afternoon. I want to thank you

4 all for joining us today for FDA's Rare Disease Day.

5 As you know, my name is Erika Torjusen, and it is an

6 honor for me to work in the Office of Orphan Products

7 Development supporting the development of products for

8 rare diseases and small populations, such as

9 pediatrics. As the final population --

10 As the final panel for the day, we are

11 closing the discussion with Center perspectives on new

12 challenges and opportunities for rare disease product

13 development. I have the pleasure to introduce our

14 esteemed panel of Center directors. At this time, I

15 would like to make sure all the Center directors have

16 their videos turned on for brief introductions. So I

17 have the pleasure to introduce the panel members.

18 First, we will start with Dr. Shuren,

19 the director of CDRH.

20 DR. SHUREN: Hello, everyone. Pleasure

21 to be here.

22 DR. TORJUSEN: Okay. And next we will

1 go to Dr. Marks, the director of CBER.

2 DR. MARKS: Hi. It is Peter Marks.

3 DR. TORJUSEN: And next, Dr. Cavazzoni,
4 the acting director of CDER.

5 DR. CAVAZZONI: Hi. It is Patriza
6 Cavazzoni.

7 DR. TORJUSEN: So to start us off, each
8 panelist will provide a summary of the main points
9 that they would like to convey addressing recent
10 accomplishments, or initiatives related to rare
11 diseases that they would like to highlight from their
12 Center, and what we have learned from our experience
13 with COVID-19, in terms of strategies that may be
14 useful in rare disease product development.

15 First, we will start with Dr. Shuren in
16 CDRH.

17 DR. SHUREN: Oh. Thank you, Erika. And
18 again, pleasure to be here, talking with everyone
19 today.

20 In spite of the pandemic and the
21 massive workload that it entailed, we have continued
22 to take actions to help advance the availability of

1 important medical technologies for individuals with
2 rare diseases. So let me talk through some of those
3 activities. So for example, over the past year, we
4 have authorized the Plasma Delipidation System, which
5 is for individuals who have homozygous familial
6 hypercholesterolemia. We also authorized Sonelete,
7 which is an MR-guided high intensity frequency
8 ultrasound that is used in individual who have an
9 osteoma. Just a non-invasive way of treating them,
10 particularly individuals who have developed
11 intractable pain that is unmitigated with medications.

12 We have also taken steps to further
13 advance the availability of medical technologies for
14 children with rare disease. And you think about thirty
15 million Americans today have a rare disease, and about
16 half of them are children. But a lot of challenges in
17 being able to assess technologies. And for that
18 reason, we see very little innovation in the med-tech
19 space when we are dealing with our children.

20 One other thing we have been involved
21 in, is helping to co-found a public/private
22 partnership called the Systems of Hospitals for

1 Innovation and Pediatric Medical Devices, or SHIP-MD,
2 which you heard a bit about this morning. And that is
3 a network of institutions, primarily pediatric
4 academic medical centers who, rather than your
5 individually going to places trying to find pediatric
6 patients to recruit, they come together as a network
7 to, kind of, pool their resources and expertise, to
8 then recruit patients to clinical trials, conduct
9 those clinical trials, then vet the technology, too,
10 to see if there is actually a good potential for
11 assessing. And now, they are working on a single
12 signature contract to really streamline the ability to
13 set up and conduct a clinical study. And one of the
14 next steps is trying to bring in the door of the state
15 Medicaid directors, because 40 percent of children in
16 the U.S. receive their healthcare through Medicaid.
17 And hopefully, this way we find greater guarantees
18 around reimbursement. And this combination of
19 activities, we hope will be a shot in the arm for
20 greater investment in medical technologies for our
21 children -- particularly, children with rare diseases.

22 And then, we have been taking steps to

1 also advance the role of patients and their care. One
2 of those efforts is to better understand their
3 preferences. So for example, the study underway with
4 UC San Francisco Stanford Center on understanding the
5 preferences of children with heart failure, to then
6 inform the development of new technologies and patient
7 reported outcomes. We have established a network of
8 patient organizations called the Patient Caregiver
9 Connection. And that provides us, really, with patient
10 experts to serve as advisors to the FDA and steps we
11 should take and help inform some of our decisions. And
12 that network has 16 members -- we are almost at our
13 17th -- and includes some of the organizations
14 representing individuals with rare diseases, like the
15 National Organizations of Rare Disorders and the
16 Muscular Dystrophy Association.

17 And one of our big strategic priorities
18 for the Center is a creation of something called
19 collaborative communities. Now, we engage in
20 collaboration all the time. But often, it is
21 government in the driver's seat in one-off
22 activities. A collaborative community is where the key

1 stakeholder groups in that community come together to
2 solve shared problems and achieve shared outcomes in
3 an ongoing fashion through a continuing forum, and
4 where government -- FDA -- has a seat at the table as
5 a member of the table. We do not drive it. We do not
6 run it. But we act as a member. And if the community
7 comes up with a solution, and that is where they want
8 to go, and it is in the best interest of patients, and
9 it is not contrary to our statutory mandates, we are
10 likely to adopt it as our solution. So really putting
11 the community in the driver seat. Already, we have
12 signed up for 10 of these communities. We have many
13 more in the hopper. And now, some of them are starting
14 to engage in work that can impact rare disease, such
15 as the one that has been established for thalamic
16 imaging.

17 Lastly, let me close with some of the
18 lessons learned out of COVID. Because I do think it
19 would be a terrible tragedy from this pandemic if we
20 did not learn from our experiences. And two, in
21 particular, come to mind that I think are relevant in
22 the rare disease space.

1 First off is regulatory flexibility.
2 You know, when the pandemic hit, we were able to take
3 advantage of our emergency use authorization
4 authorities. And it allowed us that flexibility --
5 allowed us to truly tailor our approach to the
6 technologies -- to those balances -- we got safe and
7 effective devices out there, but also very timely
8 patient access. And we think applied in the rare
9 disease space -- critically important.

10 And secondly, is engagement. Taking our
11 approach in the breakthrough devices program, with a
12 lot of early and often engagement -- but putting it on
13 steroids where developers literally were engaging with
14 us in real- or near real-time basis, submitting data on
15 a rolling view. We had a 1-800 24/7 hotline setup, and
16 e-mail boxes, and a variety of other actions. That
17 engagement with developers, I think, helped lead to --
18 along with regulatory flexibility -- the development
19 in technologies like tests, within -- you know, weeks,
20 and validation and authorization in literally weeks,
21 rather than what would take months to a year or
22 longer. And again, that kind of approach advanced in

1 the rare disease space, we think can be a game-
2 changer.

3 With that, I will turn it back over to
4 you, Erika.

5 DR. TORJUSEN: Thank you, Dr. Shuren.
6 That was a great summary. And it sounds like your
7 Center has been extremely busy. I really liked the
8 points you made regarding collaborative communities. I
9 think those are really promising. Certainly, your
10 points regarding regulatory flexibility are certainly
11 well-taken. I think we are all learning that lesson
12 moving forward. And the real-time interaction sounds
13 really exciting. And I am sure that, that would be
14 something that a lot of innovators would love to take
15 advantage of moving forward. So thank you, Dr. Shuren.

16 So with that, now we will have the same
17 question to Dr. Marks in CBER.

18 DR. MARKS: Right. So thanks, very
19 much. So now, our Center handles cell, tissue, and
20 gene therapies. And among the excitement over the past
21 two years has been the gene therapy approach is to
22 treat -- or potentially even cure rare diseases, are

1 becoming a reality. In some cases, the results of
2 these treatments have been almost spectacular and
3 demonstrate particular promise in inherited genetic
4 disorders. And since these disorders, though, are both
5 numerous -- with over 7,000 been identified or
6 defined -- and are -- currently many of them poorly
7 treatable, we have a long way to go. And increasing
8 the number of potential treatments have to be --
9 increasing the number of treatments have to be a very
10 important priority for us. And what we realize is
11 that, currently, there really isn't an optimized path
12 forward for the development and access to these
13 therapies, particularly, when the disease population
14 is extremely small, or in the situation of
15 individualized treatments, or treatments where there
16 really isn't a strong commercial interest.

17 Some of the roadblocks to broaden
18 efficient application of gene therapy approaches to
19 the thousands of disease populations that could
20 potentially benefit, are really the fact that the
21 manufacturing, currently, is just a challenge. And the
22 approaches we have do not allow us to have easy

1 scalability, reproductive validity, or regulatory
2 generalizability as we go from one gene therapy to
3 another.

4 Additionally, a lot of what has
5 happened is we have had very siloed propriety
6 processes. So there has not been, kind of, the sharing
7 of information that allowed all boats to float higher
8 in moving ahead the production of gene therapies. And
9 this is all even aside from some of the challenges
10 that the clinical development has in these rare
11 diseases, where you have very small populations where
12 randomized trials just are not practical, and one
13 really has to look at changes from some baseline
14 natural history.

15 So because of that, we have taken the
16 tact of trying to develop a program in individualized
17 gene therapies, gene therapies for populations that
18 are relatively small -- that is probably less than 100
19 treatments in the United States. Sometimes, perhaps,
20 it might only be five or 10 treatments in the United
21 States. This is a category which we are calling the
22 Bespoke Gene Therapy category, because it is really

1 specifically tailored for individuals -- just a few
2 individuals.

3 And we have been working, now, with
4 leadership at the NIH, in the pharmaceutical industry,
5 and with folks at FDA, since 2019, to try to put
6 together a group to help assess these challenges and
7 try to address them in a pre-competitive
8 public/private partnership. And the Foundation for
9 National Institutes of Health has now adopted this as
10 a project. And we are currently working with industry
11 and academic representatives to try to put together a
12 pilot program, in which we will try to take through
13 several gene therapies for rare disorders through a
14 process in which we actually leverage manufacturing
15 information, leverage information about -- that we
16 know about the vectors that will be used to carry
17 those gene therapies into people's cells so that we
18 could potentially increase throughput of products
19 through by not having to re-invent the wheel each time
20 a new product comes along. Essentially, it is a --
21 what we would consider almost like -- not quite -- it
22 does -- not quite something that falls in Dr. Shuren's

1 bailiwick of razor blades, but it is analogous
2 to this idea, that the gene therapy vector, that is
3 what helps carry the gene of interest into cells. But
4 that does not really change. And the properties of
5 that does not change. But it is just the insert that
6 has to be characterized each time, anew.

7 And we think that if we can understand
8 and get some experience with this, we would be able to
9 speed up the development of gene therapies for rare
10 disorders, so that we would be able to address
11 multiple ones more quickly. Ultimately, the goal would
12 not just to be have a pilot, but would be to develop a
13 playbook, so that this could be used in academic
14 laboratories -- but even more importantly, in the
15 commercial setting, so that there is commercial
16 viability. And this would, then, take on a life of its
17 own, with manufacturers making gene therapies for
18 small populations of individuals.

19 So we are hoping that by moving in this
20 direction, we will find a way to get important
21 treatments to the rare disease community,
22 particularly, those that really have very small

1 numbers of treatment necessary, per year, in the
2 United States.

3 And I will stop there.

4 DR. TORJUSEN: Thank you, Dr. Marks for
5 that excellent summary of the unique considerations
6 related to these types of products. Your point,
7 certainly, tie in nicely with Dr. Abernethy's point
8 made earlier today, where we need to develop a
9 playbook. And I think you actually used the same word,
10 exactly. So I think that, that is a great strategy
11 moving forward. And I think the rare disease community
12 is excited to see how further outcomes from this type
13 of approach. So thank you, very much, for that
14 summary.

15 So next, we will hear from
16 Dr. Cavazzoni, with the same questions, from CDER.

17 DR. CAVAZZONI: Good afternoon,
18 everyone. It is a real pleasure to be here. Similar to
19 the other Centers, we have had to, obviously, focus on
20 the pandemic response, while continuing to advance all
21 our other work. And obviously, work in rare diseases
22 is very important. And despite everything else that

1 has been going on over the past year, I think we can
2 count some really notable accomplishments at CDER.

3 For instance, we have approved the
4 first treatment for molybdenum cofactor deficiency
5 type A. We also approved the first treatment for
6 weight management for people with certain rare genetic
7 conditions, over the past year. In addition, we
8 approved the first treatment for Hutchinson-Gilford
9 Progeria Syndrome and some Progeria laminopathies. And
10 lastly -- recently, we have approved a targeted
11 treatment for rare Duchenne muscular dystrophy
12 mutation.

13 So lots of activity. We are also
14 continuing to focus on how we can streamline and
15 facilitate and shorten the development of therapies
16 for rare diseases by working with sponsors to develop
17 clinical trials and development plans that are going
18 to give us quality data, while at the same time, not
19 following the -- you know, the traditional paradigm of
20 two or more randomized controlled studies, and so on,
21 because we realize that, that paradigm is very
22 challenging in the rare disease space.

1 When it come to the -- you know, the
2 experiences over the past year, and -- you know, what
3 we have learned from COVID, and what we might be able
4 to actually take forward once we get to the new
5 normal, when it comes to rare disease clinical
6 trials -- you know, we have certainly learned a lot
7 when it comes to the application of the centralized
8 clinical trials. This used to be more the exception
9 than the norm, before COVID, and certainly over this
10 past year, it has been necessary to really deploy the
11 centralized approaches to continue to advance clinical
12 trials, including clinical trials in rare diseases.
13 And we are thinking of how we can continue -- you
14 know, to promote the adoption of the centralized
15 clinical trials after the pandemic.

16 Similarly, we have seen an expansion of
17 the utilization of digital health technologies for
18 data collection. Obviously, that goes hand in hand
19 with the centralized clinical trials. It is an
20 important tool to collect data that is amenable to
21 collection through digital health technologies from
22 the patient's home without having the patients or the

1 caregivers having to travel to an investigative site.

2 And obviously, all of this speaks to --
3 you know, facilitating the recruitment and the -- of
4 clinical trials, and retaining patients in clinical
5 trials, and make it easier for their caregivers to
6 have them participate in trials.

7 The other area that is of ongoing focus
8 for us is really looking at how we can facilitate and
9 promote adaptive platform trials. And certainly, we
10 have seen this really take off in the -- during the
11 COVID pandemic in the development of therapeutics. And
12 obviously, this is an area that we think has a very
13 strong applicability for rare diseases. Obviously, we
14 have some very notable examples, such as the HEALY
15 trial -- platform trial for ALS. But we really see
16 this platform trial as being an important tool in
17 streamlining development, decreasing the exposure to
18 placebo when it is necessary, and so on.

19 Similar to what you have heard from
20 Jeff Shuren, and Peter Marks, what is also very -- you
21 know, a very -- that really continues to attract a lot
22 of focus is the concept of, really, data sharing, and

1 working in a collaborative way in pre-competitive
2 space. And as many of you know, we have established
3 the Rare Disease Cure Accelerator, which is really
4 meant to facilitate a cooperative approach, and a
5 common standardized platform to better characterize
6 rare diseases, including incorporating patient
7 perspectives in clinical outcome assessments, and
8 building a clinical trial readiness in the
9 pre-competitive space by sharing information and
10 making it as accessible as possible.

11 We have also -- you know, taken some
12 different approaches when it comes to how we do the
13 work within CDER and within the Office of New Drugs.
14 And to that effect, we have established a new OND rare
15 diseases hub in the Division of Rare Diseases and
16 Medical Genetics, which is comprised of two
17 collaborative groups that are focusing 100 percent on
18 rare diseases, and, sort of, working in a metrics
19 fashion. And we think that this focus is really very
20 important.

21 We also, really would like to continue
22 to emphasize for stakeholders the -- not only,

1 obviously, being, sort of, aware and engaging with us
2 as part of -- as early as possible in the development
3 cycle, and obviously, referring to our many guidances
4 in this space, but really this concept of
5 pre-competitive collaboration is something that I
6 cannot, sort of, emphasize enough. And I think it is
7 really a fundamental element of advancing rare disease
8 treatment development.

9 So I am going to stop here, so that we
10 have sufficient time for Q and A.

11 DR. TORJUSEN: Thank you, very much,
12 Dr. Cavazzoni. Certainly, sounds, again, like you have
13 a lot of great initiatives for rare diseases. I was
14 really interested to hear how you are trying to
15 consider -- especially for rare diseases -- these
16 patients, it might be difficult for them to travel to
17 different clinical study sites. So it is great that
18 you are trying to capitalize on what we have learned
19 from COVID-19 to be able to help these patients
20 continue to participate in clinical studies. As well
21 as platform trials. That is a great opportunity to,
22 maybe, work together to, kind of, create something

1 great, instead of, maybe, having a whole bunch of
2 people working independently, and maybe not answering
3 the full question. So I think that is great. And
4 certainly, the Rare Disease Accelerator -- I think we
5 are all looking forward to seeing what we learn from
6 that experience, as well. And I can tell you, our
7 office is looking forward to working with the rare
8 disease hub in CDER, as well. So thank you, for all of
9 those updates.

10 So now, I am going to go give another
11 question to Dr. Shuren. So I was wondering if there
12 are any unique considerations that apply to developing
13 devices for small populations, such as pediatrics, in
14 the rare disease space? And how is your center working
15 to address these unique needs? I know you started that
16 in your introduction. I am hoping you can expand on it
17 further.

18 DR. SHUREN: And thank you for the
19 question. Let me build on it. You know, I talked about
20 some of the challenges in -- because of the small
21 populations -- in recruiting subjects for clinical
22 studies and conducting those studies. And to give you

1 a flavor, I mentioned the sono device, where we
2 authorized it based on nine subjects in an open label
3 study. And the plasma delipidation system, that was
4 six subjects. And that is really what we -- you know,
5 had to deal with. And still challenging to do that.

6 And so -- you know, the SHIP-MD
7 approach is one way of trying to tackle, we talked
8 about. We are also leveraging our Pediatric Device
9 Consortia -- kind of, a network of institutions who
10 are -- innovators in this space. And one of the
11 opportunities is a meeting between the innovator, the
12 consortium, and our Chief Medical Officer of
13 pediatrics and -- populations, and all coordinated by
14 the Office of Orphan Product Development --

15 And but one of the other big challenges
16 in on the regulatory side. You know, in the device
17 space, you cannot get the economic incentive, like you
18 do in drugs, because -- you know, even the
19 opportunities on waiver for -- you know, for another
20 product, does not really help here, because you can
21 re-engineer around the technology. And so what
22 congress came up with is a very different regulatory

1 pathway for these small patient populations for --
2 device exemption. But is limited to 8,000 patients or
3 less. It has got lots of bells and whistles. Adult
4 populations, in most cases, cannot collect a profit,
5 you got to get IRB approval. Very challenging.

6 And Congress has tried to change this
7 and fix it three times since 2007. And guess what?
8 Over the past decade, only six HDE technologies to the
9 marketplace. We just have to say, this pathway, it
10 just does not work. We need to actually take advantage
11 of the lessons learned from COVID. Regulatory
12 flexibility.

13 So imagine instead of the HDE today --
14 took away that standard of probable benefits
15 outweighed probable risks, and we took away the bells
16 and whistles -- so we do limit to just 8,000 -- we did
17 not have the IRB. We made it more flexible. But we
18 address another problem we had -- who even if we
19 authorized and HDE product may not want to pay for it
20 because of the lower standard to market. Instead say,
21 you know what -- we can bring you to market under the
22 HDE standard, much more flexibility, maybe a larger

1 patient population -- of course, the larger you get
2 the more confidence you want to have in benefit/risk,
3 'cause you can get more subjects. But after a period
4 of time, you have got to meet the full standard of
5 reasonable assurance of safety and effectiveness. And
6 you combine that with works like SHIP-MD, with a
7 guaranteed network for gathering evidence, our greater
8 opportunities for leveraging real-world evidence to
9 support decision making, we can have a new flexible,
10 regulatory paradigm that is fit for purpose,
11 particularly, for small populations. And I think this
12 combined level of effort can make a huge impact in the
13 rare disease space.

14 DR. TORJUSEN: Thank you, Dr. Shuren,
15 for that -- another excellent summary. I had the
16 pleasure of working with CDERH on a bunch of these
17 things. So I had the pleasure of working on the
18 SHIP-MD. I was in one of the workstreams. And so,
19 certainly, it is an exciting time, I think, for
20 pediatric medical device developments. SHIP-MD
21 definitely seems to have a multi-faceted approach to
22 the unique challenges in the pediatric device

1 ecosystem. So we look forward to that. Certainly,
2 hearing that you are looking at your programs and how
3 you can better refine them and maybe create new
4 pathways that might address some of the needs, I think
5 that is really important. Certainly, we cannot do the
6 same thing over and over again. We have to evaluate
7 what we have, and what we need to do to improve the
8 situation that we are dealing with.

9 So it sounds like CDRH is doing that
10 on all fronts. So thank you, very much, Dr. Shuren.

11 So this next question, I was actually
12 going to ask to Dr. Marks. And I think some of this
13 was also addressed in your opening comments. And I was
14 going to try to roll this into a -- I was going to try
15 to roll this into one of the questions that I saw in
16 the chat. I am trying to scroll up on it. Sorry.

17 But, basically, Dr. Marks, we had seen
18 questions that were related to some of the gene
19 therapies. And so the question that I had for you,
20 that you already, kind of, opened up with initially --
21 but I was wondering if you could expand further, from
22 a regulatory perspective -- we have seen increased

1 interest in the therapies for ultra-rare and small
2 populations, such as individualized therapies. And how
3 is your Center working to address regulatory
4 considerations in this space as it related to gene
5 therapy? And I wanted to let you know that someone has
6 asked a question that was asking, how are we going
7 to, also, coordinate some of the standards across the
8 different Centers? Like, for instance, if there is a
9 therapy that comes into CDER, CBER, if all of these --
10 how are these Centers going to coordinate to make sure
11 that we are addressing these unique considerations?

12 DR. MARKS: That is a great question.
13 So -- I think, first of all, let's start on the
14 upfront part. Which is that, I think, increasingly we
15 will -- you will see, kind of, cross-collaboration
16 across the centers, because we cannot have a different
17 endpoint, necessarily. We -- if -- the endpoints, we
18 are going to have to agree on between Centers, right?
19 I mean, gene therapy -- whether it be a small
20 molecule, a protein replacement, or gene therapy, we
21 need to agree on the endpoints. And we probably
22 should not have different bars in different Centers,

1 right? I mean, so I think we need to, at least, agree
2 upon what we are working on. So there, I think --
3 there is a good opportunity to have crosstalk, here,
4 in the rare disease space.

5 Then, in terms of what we do to
6 facilitate, here -- and I think, in some ways this is
7 why there is this playbook necessary. Because, right
8 now, I think, the concept is -- people do not realize,
9 that even before you have your gene therapy, if you do
10 your natural history study before you have your gene
11 therapy in your Phase one, you might actually be able
12 to get there much faster than if you do not do that
13 preparatory work. Because if one knows what a baseline
14 is -- or what some decline is in function, and then
15 one intervenes with a gene therapy, one does not need
16 to worry about Phase one, two, three. If one prevents
17 that decline very clearly, it does not take that many
18 patients if it is a big effect. And sometimes gene
19 therapies do provide a very big effect.

20 You know, there, one can see getting to
21 a regulatory -- a place where you could have a
22 regulatory approval much more quickly than if you do

1 not know what decline is, and you go through Phase
2 one, and you say, "Okay. Well, we observed this." But
3 you do not know whether what you have observed is
4 making a difference on the natural history of the
5 disease. Because that is the only way we can tell. I
6 mean, that is the way -- this is a way of doing this.

7 Obviously, if you have a large enough
8 population that you can randomize -- or if the decline
9 happens so quickly that you can randomize, that people
10 do not mind being randomized for three or six months,
11 or a year. But I think, for some of these
12 populations -- it is -- the populations are so small
13 that to try to randomize where you have 10 or 20
14 patients, it is just very challenging. And I think we
15 have to think about other ways to get there.

16 So that is, kind of, the way we have
17 been thinking through this. And we know we can -- we
18 think we can get there, because, at least, when you
19 have things that really work, you do not need a ton of
20 patients, right? If you look at the data behind -- on
21 a SMA gene, or -- the therapy for a type one spinal
22 muscular atrophy -- you know, with 15 patients, we did

1 not need a statistician to know that you are making a
2 tremendous difference in outcomes.

3 So, hopefully, we will see more of
4 those. Not every gene therapy will be like that. But
5 we would like to have some homeruns like that.

6 DR. TORJUSEN: Thank you. Thank you,
7 very much, Dr. Marks.

8 And Dr. Cavazzoni, do you have anything
9 that you wanted to add to that? Or would you like me
10 to give you another question for the audience?

11 DR. CAVAZZONI: Yeah. I actually, it is
12 up to you. I certainly, echo Dr. Marks comments
13 about -- you know, the importance of achieving a
14 greater understanding of the natural history of
15 disease. We have -- you know, we know that there are
16 some very rare diseases out there, that despite being
17 very rare, are also quite heterogeneous. And so,
18 really understanding the course of disease is very
19 important. And you know, as taking from the -- you
20 know, the tremendous advances that we have seen in
21 gene therapy, obviously, that speaks for the
22 importance of also investing in studying the biology

1 of disease, and understanding the molecular targets
2 that would, then, allow for the development of -- you
3 know, very fit for purpose and targeted therapies for
4 rare diseases.

5 Happy to take more questions.

6 DR. TORJUSEN: Excellent. Thank you. So
7 we do have about five minutes left. So in our last
8 five minutes, I was hoping -- there is a question in
9 the chat that is asking that -- you know, we often
10 recommend engaging with the Agency early. And I think
11 this is a question that applies to all of the Centers.
12 So I was wondering if, maybe, you each could just,
13 kind of, give a plug on how you suggest that these
14 innovators, and developers engage with the FDA and
15 start their interactions early to get on the right
16 track.

17 So we will start with Dr. Shuren.

18 DR. SHUREN: That is probably our top
19 advice. Engage -- lots of mechanism to do it. Like,
20 throughout -- you know, Q-sub/pre-subs. And of
21 course, if you qualify in rare disease space, likely
22 will, through our Breakthrough Device Program, we

1 offer that out of the gates. And some additional
2 opportunities, too, like, regulatory sprints. Identify
3 a problem we need to solve, and we commit to solving
4 it, working collaboratively -- and solve within 45
5 days.

6 DR. TORJUSEN: Excellent. Thank you.
7 Dr. Marks?

8 DR. MARKS: Thanks. So -- again, I am
9 going to echo what Dr. Shuren said. We think that is
10 really important to come in very early. And we have
11 programs -- one called the Interact Program, which
12 allows people to come in before they actually are in
13 the pre-IND stage, just to discuss the development
14 plan for a specific product. And we would encourage
15 people to come in early, have those -- have that
16 dialog. Because it can potentially save wasted effort,
17 which, ultimately comes at a cost to patients getting
18 a therapy sooner.

19 DR. TORJUSEN: Excellent. Thank you,
20 very much, Dr. Marks. And Dr. Cavazzoni?

21 DR. CAVAZZONI: Well, similarly, it is
22 a -- I agree with Dr. Shuren. This is our top advice.

1 Engage with us early, talk to us, dialog with us. You
2 know, do not go off and -- you know, take a different
3 path without dialoging with us, because in the end --
4 you know, if we have made some recommendations or
5 provided guidance, and then that is not followed, and
6 then -- you know, two years later, we are presented
7 with the results of a clinical trial -- you know, it
8 certainly does not -- it is a situation that does
9 not -- you know, accelerate things. And sometimes it
10 actually get us -- creates a bit of a bottleneck.

11 We also have a lot of information out
12 there. And I am in no way suggesting -- you know, the
13 guidance supersede coming to talk to us. But you know,
14 there is a lot of information out there. So for CDER
15 we have -- you know, we have issued a guidance on
16 demonstrating substantial evidence of effectiveness
17 that really specifically also talks about the
18 substantial evidence requirements in situations, such
19 as rare diseases. We have guidance on development of
20 rare diseases, on natural history studies, and so on.
21 And so that can be, sort of, a foundational, sort of,
22 resource, that then may actually allow sponsors or

1 patients who -- to come to us, sort of,
2 understanding -- already understanding our general
3 thinking.

4 DR. TORJUSEN: Mm-hmm. Thank you, very
5 much, Dr. Cavazzoni. And I certainly think that, that
6 is a point that is echoed across the Agency. We see it
7 time and time again, that innovators and developers --
8 drug developers does -- for some reason, do not want
9 to engage the Agency, and then, they have put in a lot
10 of work, and it is a big, wasted effort when they are
11 told to start over again. This happens with devices.
12 And so, certainly, it is one of those things that we
13 recommend early interaction with the Agency. So that
14 is great message.

15 So I think we only have one minute
16 left. And so in that last minute, I am just going to
17 say thank you to our great panel. I really appreciate
18 all of our Center directors for taking time out of
19 their day to speak with us. We really appreciate
20 participation in Rare Disease Day. Thank you to all of
21 the audience members who provided questions. We really
22 appreciate it. And our time for this session has

1 ended.

2 And so, now, I would like to introduce
3 Catherine Park. She is the program management officer
4 in the Office of Orphan Products Development. And she
5 will be taking over for the open public comments
6 portion of our meeting. Thank you, very much,
7 Catherine. I will turn it over to you. Thank you, all.

8 MS. PARK: Thank you, Erika. Hello. My
9 name is Catherine Park. And I will be moderating the
10 open public comment portion of the meeting. Today, we
11 have 12 speakers registered. We have a mix of live and
12 pre-recorded comments. Each speaker will either have
13 two minutes to speak or have provided a two-minute
14 recording. If a speaker finishes early, we intend to
15 move on to the next speaker. We will call each speaker
16 by their name. When it is your turn, if you are
17 providing your comments live, please turn on your
18 camera, and unmute your microphone to provide your
19 comments. For transparency purposes, we ask you,
20 please, disclose if you are affiliated with an
21 organization, or if you have significant financial
22 interest in a rare disease medical product

1 development. As a reminder, you will also have the
2 option to submit comments to the docket, which will
3 remain open until Friday, April 2, 2021.

4 I will now call on the first speaker in
5 the open public comment period. We have Dale Sanders.
6 Thank you.

7 MR. SANDERS: Hi, friends. I am Dale
8 Sanders. My career spans 37 years serving as an Air
9 Force officer at the National Security Agency Intel
10 Corporation, and more recently, healthcare. I am now
11 a hospital and clinical research executive at
12 Intermountain Health Care, Northwestern University,
13 and internationally. I am currently an advisor to and
14 investor in several companies that specialize in
15 healthcare and life sciences technology, several of
16 which are focused on rare disease.

17 I am here today in two capacities.
18 First, to represent close family and friends and the
19 thousands of patients I have seen who have been
20 affected by rare diseases, such as ALS, Williams
21 Syndrome, multiple myeloma, Dravet Syndrome, and more.
22 Second, I am here as a data strategist. That is a

1 person who specializes in the use of the data to make
2 better decisions in multiple domains. In those two
3 capacities, I can confidently say we are looking at
4 rare diseases from a wrong and poorly informed
5 perspective. Contrary to popular belief, the total
6 societal impact, the emotional and financial of rare
7 diseases is far larger than chronic diseases. The
8 ripple of these battles affects an extensive social
9 network of family, friends, and colleagues in very
10 material ways, financially and emotionally, much
11 greater than what I have observed with common chronic
12 diseases. We must look at rare disease through the
13 broadened lens of total societal impact, not through
14 the narrow lens of how many patients are affected.

15 As a data strategist, data is
16 fundamental to treating and curing rare diseases. We
17 need a coordinated national data strategy for all rare
18 diseases, and each rare disease deserves its own
19 unique data strategy. The data from electronic health
20 records is grossly insufficient. Like a GPS map that
21 is only accurate to within 10 miles. We need more and
22 better data. Every rare disease must be supported by a

1 standardized national registry, and it must be a
2 single registry, not the competing multiple registries
3 that we have now.

4 Thank you for letting me share my
5 thoughts today. God bless all the patients and the
6 families affected by rare diseases. Thank you.

7 MS. PARK: Thank you, Dale. Next, we
8 will have Jennifer Ostrom.

9 MS. OSTROM: Thanks, everyone, for
10 having me. My name is Jenny Ostrom. I am a wife,
11 mother of six children, and a patient with multiple
12 myeloma. I started the HealthTree Foundation because I
13 am an impatient patient. And I cannot wait for a cure.
14 So even with remarkable progress that has been made in
15 myeloma, it remains incurable and terminal.

16 Now, in our effort to finding a cure
17 for my disease, my husband and I observed that the
18 various data silos caused by regulation is the single
19 biggest barrier to a cure. We believe that patients
20 are the key to connecting the dots. We knew that
21 connecting the patient community and their data with
22 researchers, clinicians, pharma companies, and their

1 stakeholders would help develop new hypotheses, which
2 it has. The challenge is that this requires patient
3 trust.

4 So we spent a lot of time understanding
5 the patient problems at a very deep level. And we
6 developed a tool called the Health Tree Cure Hub,
7 where over 8,700 patients contribute deep data sets,
8 their labs, prior treatments, genetics, patient
9 reported outcomes, in order to obtain benefits back
10 during a lifetime with their disease.

11 We validate the medical records to make
12 sure they are accurate. And then, patients can see
13 personally relevant treatment options, or clinical
14 trials they are eligible to join. They can find their
15 disease twin, get a telehealth visit for a second
16 opinion, or use it for clinical trial follow up, and
17 the provider can see all of their aggregated medical
18 records. They can find crowd-sourced solutions to
19 common side effects and watch a comprehensive myeloma
20 university taught by over 100 specialists.

21 That deep technical experience led us
22 to develop eight unified software platforms to enable

1 rare disease communities to help their patients across
2 their lifetime with the disease. So as we give
3 patients these tools to navigate their disease, they
4 contribute their patient experience, because there are
5 real practical benefits in doing so. We partner with
6 academic investigators to facilitate their surveys and
7 studies. And it is making clinical trial recruitment
8 significantly easier.

9 And now, we are expanding myeloma with
10 this cut and paste set of tools to build community
11 that supports patients and drives research
12 simultaneously.

13 Now, market disruption, that was talked
14 about earlier, requires that you serve the needs of an
15 unloved and underserved community. And in this case,
16 it was the patient. I wanted to let you that it is
17 possible, if you put the patient first, and you
18 preserve trust.

19 MS. PARK: Thank you. Next, will have
20 Eric Hartman.

21 MR. HARTMAN: Hello. My name is Eric
22 Hartman. I am the director of advocacy for the

1 Choroideremia Research Foundation. I am one of the
2 founding members, and a patient. Choroideremia is an
3 inherited retinal degenerative disease that starts
4 with a loss of peripheral vision and night vision, and
5 shrinks into the middle, to where there is no vision
6 at all. Males are predominantly affected. But females,
7 also are. And we are indebted to the NEI for their
8 work in putting together a new natural history study
9 for this negative -- disease as a natural history
10 study.

11 We want to thank the FDA. Especially
12 the members of CBER for their work in our current
13 trials. We have several trials underway, both for AAV2
14 vector, and directed evolution vector. We want to
15 thank them for allowing us to give our input before
16 the committee to let them better understand the patient
17 perspective of choroideremia.

18 We also think it is incredibly
19 important that will all of these potential therapies
20 that are out there -- including stem cells and gene
21 editing -- that it is imperative for the inherited
22 retinal diseases of the eyes, that you get genetic

1 testing.

2 In our foundation, the vast majority of
3 our patients were originally diagnosed with another
4 eye disease, retinitis pigmentosa. And we think that
5 the standard of care should now be for all existing
6 patients to get genetic testing. There are large
7 groups of patients out there that have not received a
8 genetic confirmation. And believe that in the long
9 run, for our patient population, and for all of
10 them, that they will be empowered through the
11 knowledge of having a confirmation of their disease
12 and being able to seek both a treatment and the
13 support they need.

14 We also want to thank the FDA in their
15 work in helping us try and get our data out of these
16 silos through the RDCA DAP grant that they had issues.
17 We think it is incredibly important that we, as rare
18 patients who travel from near and far to be in these
19 natural history studies, do not want that data locked
20 away for all times.

21 Thank you.

22 MS. PARK: Thank you, Eric. Next, we

1 will have Jason Colquitt.

2 MR.COLQUITT: My name is Jason
3 Colquitt. I am CEO of Across Healthcare. I am blessed
4 to have a 22-year career in the health care IT arena.
5 I personally have a rare mitochondrial disease, have
6 friends with rare disease, have friends with kids with
7 rare disease, and too many friends that have lost
8 loved ones to rare disease. This fuels my passion to
9 disrupt the way we care for and cure rare diseases.

10 I have four challenges for today. The
11 good thing is most of them have been heard today. So
12 that is awesome.

13 Patient reported data and registries. I
14 would like to see more encouragement of collecting and
15 using patient reported data. Rare disease patients and
16 caregivers are typically willing and -- to diligently
17 collect their -- data. The patient voice through their
18 data needs to be heard and leveraged more.

19 The second challenge is remote and
20 de-centralized approaches. We have heard this today,
21 as well. The pandemic has taught us trials and studies
22 cannot be centralized and have patients traveling to a

1 single site. Technologies have been used for
2 throughout the pandemic. We need to figure out how we
3 can adopt and utilize these remote technologies to
4 keep patients at home, or, at least, local. This
5 pushes beyond our all-too-common Zoom experiences, and
6 move toward remote sensors, remote sites, remote
7 tools, and other exciting de-centralized trial
8 aspects.

9 The third challenge is around
10 electronic health records. And you have heard this
11 from the speaker earlier. But my 15-years career was
12 building EHR's. I then, moved for seven years to
13 aggregate, consume, and curate EHR. EHR's are a
14 wonderful source of historical data in rare disease
15 studies. But is all too common that it is expensive,
16 timely, and these siloed environments are hard to get
17 to the data. It is just messy. I would encourage the
18 FDA to collaborate with other HHS agencies and offices
19 to influence -- that have influence over the EHR to
20 keep research as an end goal.

21 My last, and fourth, is collaboration.
22 Encourage collaboration. It is easy for us all to put

1 blinders on and care for our own disease or research
2 area. I would like to see more encouragement and
3 incentives for collaboration across agencies. Given
4 how many and how fragmentated rare diseases are,
5 uniting together is a way for us to increase our
6 impact.

7 Thank you for this opportunity. And I
8 pray that we all are able to listen, learn, and act on
9 what we have heard today to make a difference in the
10 lives of all rare disease patients. Thank you.

11 MS. PARK: Thank you, Jason. Next, we
12 will have Dean Suhr provide comments.

13 MR. SUHR: Thank you. And good
14 afternoon. I am a rare disease dad, president and co-
15 founder of MLD Foundation. We serve the metachromatic
16 leukodystrophy community, which is a terminal genetic
17 para-metabolic disease. Pre-symptomatic therapy today
18 is transplant. But we do have a gene therapy that has
19 been approved in --

20 MS. PARK: Did you push your mute
21 button? We cannot hear you, right now. Dean?

22 MR. SUHR: MLD has a natural history

1 registry -- grant program, as well. So thank you for
2 that. I wanted to venture, just briefly, today, a
3 policy, as an important issue for those of us that are
4 advocates this rare disease week. Many of us have been
5 advocating on Capitol Hill. And for the audience, I
6 want to make sure you are supporting the work of the
7 FDA by engaging in policy.

8 We have many issues this year that we
9 have been putting forward -- one of them is access to
10 the Rare Indications Act, which requires payers to
11 honor the entire FDA approved label. We also have the
12 STAT Act, establishing a rare disease center of
13 excellence, and the HEART Act, to continue and improve
14 the rare disease patient and FDA engagement. And of
15 course, we are always asking for appropriations. Your
16 organization continues to need funding and continues
17 to need good staffing support.

18 Also, as I mentioned, we have a gene
19 therapy coming. But I want to make a general comment
20 about that. There is a tsunami of gene therapies for
21 numbers of diseases that are coming. And we want to
22 encourage the FDA to consider that many of these are

1 platform therapies, and that, that may need to
2 influence how reviews are done in the future. Do not
3 sacrifice quality or any of the standards. But
4 separate the difference between the base platform and
5 the disease specific information, so that we can be
6 more efficient.

7 And then, finally, on COVID, I did miss
8 panel three due to a conflict. But Dr. Abernethy
9 started us off this morning talking about templates
10 and roadmaps. And I really want to encourage you to
11 apply the lessons that -- and all of us, to apply the
12 lessons we have learned the rapid COVID vaccine
13 development to rare disease. Our clinical trials are
14 going to take longer than just a couple of months,
15 like the COVID ones did, but we can learn a lot, to
16 take the gaps out and to be more efficient about how
17 we work our way through the regulatory approval
18 process.

19 Thank you very much, for your time and
20 your hard work.

21 MS. PARK: Hi. Dean, before you step
22 out. Do you mind repeating what you talked about,

1 about your organization and what it does? Your audio,
2 kind of, cut out, back there.

3 MS. SUHR: Oh. I apologize. Right at
4 the very beginning? Yes. My name is Dean Surh. I am a
5 rare disease dad, president and co-founder of the MLD
6 Foundation for metachromatic leukodystrophy. It is a
7 terminal genetic para-metabolic disease.
8 Pre-symptomatic therapy, historically, has been
9 transplant. And there is a gene therapy that has been
10 approved in the EU, late 2020. And we are currently
11 working -- I say, we -- the sponsor is, currently
12 working its way through the FDA. Two of my three kids
13 were affected with MLD. Thank you.

14 MS. PARK: Great. Thank you, so much,
15 Dean. Next. We will hear comments from Brian Smith.

16 MR. SMITH: Good afternoon. My name is
17 Brian Smith, and I am a law student at the University
18 of Illinois College of Law. I am speaking in my
19 individual capacity, today.

20 I independently research rare diseases
21 as a public health crisis. And I have numerous family
22 members who have a hyper-rare disease, that is found

1 only in my family.

2 As I am sure you all know, rare
3 diseases are not actually rare. In fact, the best
4 evidence estimates that over 30 million Americans have
5 a rare disease. Despite this shockingly high number,
6 therapies for rare disease patients are limited. Even
7 though the Orphan Drug Act has spurred innovation for
8 many rare disease therapies, 95 percent of rare
9 diseases still have no FDA-approved treatment.

10 So clearly, current law and economic
11 incentives are not adequate for millions of Americans
12 suffering from rare diseases. The FDA appears to have
13 some tools to help promote the creation of rare
14 disease therapies for even the rarest of the
15 conditions.

16 First, the FDA can consider
17 prioritizing review of products that are approved by
18 the European Medicines Agency, but not yet by the FDA.
19 This would not be a short cut, rather it would be a
20 supplement to current FDA review.

21 In addition, the FDA should create new
22 standards for how drugs for hyper-rare conditions are

1 examined for safety and efficacy, as gold standard
2 trials are difficult for these conditions.

3 Lastly, I implore the Office of Orphan
4 Drug Development to communicate with its account
5 managers in the Office of Congressional Appropriations
6 at the FDA. That office is uniquely situated to
7 provide input to Congress on how FDA processes can be
8 streamlined to better serve rare disease Populations.
9 In addition, they can relay to Congress information on
10 what additional support the Office of Orphan Product
11 Development needs to promote would be rare disease
12 therapies.

13 Thank you for allowing me to speak with
14 you all, today, and for the dedication you showed to
15 the rare disease community.

16 MS. PARK: Thank you, Brian. Next. We
17 will hear from Sophia Zilber.

18 MS. ZILBER: Thank you. My name is
19 Sophia Zilber. And my outline today is about patient
20 registries for a disease. As a disclosure, I work at
21 Pfizer, but I am here on my own behalf.

22 My daughter died from mitochondrial

1 disease. Due to my professional experience in data
2 analysis, I was able to contribute to mitochondrial
3 disease patient registry efforts. These are some
4 challenges that I have encountered.

5 There is a lack of awareness regarding
6 things that are critical for success of a patient
7 registry. For example, understanding what is involved
8 in collecting useful and meaningful data, importance
9 of data standards, user appropriate technical
10 resources, having governance and oversight, selecting
11 registry platform most appropriate for the goals of
12 the registry. As a result, a lot of data collected is
13 not useful for research. At the same time, enormous
14 amounts of time, money, and hope is spent on creating
15 multiple patient registries for the same disease.
16 Patients in the patient registry that I have analyzed
17 have commented on how upsetting it is to participate
18 in various registries and having nothing come out of
19 it, over and over. Education, awareness, and a dialog
20 is needed.

21 In an effort to address this, I have
22 written a very concise and easy to read paper about

1 patient registry design for Rare Disease Foundation
2 with Jason Colquitt, who also spoke here. I have also
3 created a Google group to unite mitochondrial disease
4 advocates and encourage discussion and collaboration.
5 It is also important to empower patients, to ask
6 better questions which will result in greater
7 accountability. I

8 would love to continue this
9 conversation with FDA, or other stakeholders. You can
10 contact me at sophiazilber@gmail.com, or you can find
11 me at my LinkedIn page. Thank you very much.

12 MS. PARK: Thank you, Sophia. Next, we
13 will have Parvathy Krishnan share her comments.

14 MS. KRISHNAN: Good afternoon,
15 everyone. My name is Parvathy Krishnan, and I am here
16 today to talk about an ultra-rare condition my
17 children have: constitutional mismatch repair
18 deficiency syndrome, or CMMRD. There are less than 250
19 patients worldwide diagnosed with this condition. Only
20 about 50 of them are still alive. As of today, our son
21 is the only child identified in the world with a
22 homozygous F CAM deletion.

1 While this is a genetic ultra-rare
2 disease, it manifests itself as progressive pediatric
3 cancers. Less than five percent of total NIH funding
4 is provided to pediatric cancers. All pediatric
5 cancers are rare diseases. As a category of only 400
6 of the 7,000 rare diseases currently have a therapy.
7 Our daughter had multiple rare diseases and passed
8 away two weeks after her fourth birthday.

9 Much like the world came together to
10 accelerate treatment of COVID vaccine research and
11 clinical trials, we ask that the FDA support
12 collective finding of a cure for rare diseases this
13 year, more than ever before. We know how to fast
14 track, now. And the same process for COVID crisis
15 vaccines and treatments may be applied on a broader
16 scale to rare diseases.

17 Everyone represented here can help us
18 fast track this by working together and collaborating
19 on research studies with patients and patient
20 advocates. Inclusion in the concept stage, before a
21 clinical trial begins, as well as integration
22 throughout the process would bring patients and

1 therapy providers together for accelerated learning.

2 In addition, we hope you will expand
3 the PRV program for pediatric specific treatments and
4 drugs. If PRV's were expanded and more researchers
5 were compensated for therapies and rare diseases,
6 perhaps another family would be spared our grief and
7 our loss. This is my dream, and my passion.

8 And thank you for giving us a voice
9 today to share our story. And I hope you will all
10 continue to involve us directly in the work you do
11 every step of the way.

12 MS. PARK: Thank you. Next. We will
13 play a recorded comment by Mary McGowen.

14 MS. MCGOWEN: Good afternoon. I am Mary
15 McGowen, CEO of the Foundation for Sarcoidosis
16 Research. Thank you to the FDA for this opportunity to
17 share comments on pressing issues for the sarcoidosis
18 community.

19 Sarcoidosis is marked by the formation
20 of granulomas in one or more organs throughout the
21 body. For those with advanced sarcoidosis it is not
22 uncommon to have significant multi-organ involvement.

1 Drug development in sarcoidosis is excessively slow.

2 In Sarcoidosis there are very limited
3 medications approved for use. Most treatments are
4 prescribed off-label, creating significant delays and
5 barrier to access, and heavy financial burdens on the
6 patient. Many of these medications demonstrate
7 clinical significance.

8 It is true that industry may seek new
9 indications for an already approved medication. But
10 this only happens very rarely, with less incentive for
11 seeking such indication in rare disease. The FDA has
12 an important role to play in changing this landscape.
13 We do not wish to stifle the innovation associated
14 with off-label use, as it is a literal lifeline for
15 our patients. However, we believe it is time for the
16 FDA to develop better scaffolding around clinical use
17 data for off-label drugs for drugs approved in other
18 areas.

19 Additionally, we urge the FDA to
20 consider ways to broaden the incentive for
21 manufacturers to seek new indications. Especially, for
22 rare diseases. To accelerate research in sarcoidosis

1 it is critically important to partner with researchers
2 and the pharmaceutical companies to identify and
3 expand the opportunity for use of surrogate and
4 intermediate clinical endpoints.

5 While the Foundation for Sarcoidosis
6 Research and clinical investigators in the field
7 continue to work to identify strong biomarkers such
8 as, imaging testing, pulmonary function tests, and
9 genetic markers, progression toward treatments and
10 cures must not stagnate.

11 Thank you to the FDA for this
12 opportunity to share our concerns, and we look forward
13 to working closely with you in advancing the care for
14 those living with sarcoidosis and other rare diseases.

15 MS. PARK: Thank you. Next, we will
16 have another recorded comment provided by Christina
17 Brundage.

18 MS. BRUNDAGE: Hello. My name is
19 Christina Brundage. I live in Irmo, South Carolina. I
20 am 26, and I have idiopathic hypersomnia.

21 IH is a chronic neurological disorder
22 that results in having excessive daytime sleepiness, even

1 after a full night's sleep. Because of this, people
2 with hypersomnia have a hard time holding down jobs,
3 staying in school, and maintaining marriages and
4 friendships. Currently, for hypersomnia, there are no
5 FDA-approved medications. This means people like
6 myself have to fight insurance to get treatment, or
7 pay out-of-pocket, which as you can imagine, gets very
8 expensive very quickly.

9 So far, I have participated in three
10 clinical research trials to help get a medication to
11 the market. The only bad thing I have experienced with
12 trials, is that if you have found an amazing medication
13 that works for you, you still have to potentially wait
14 for years in order for it to be available. One of the
15 medications I tried for a trial was my miracle
16 treatment. It completely took away my symptoms, and I
17 felt more awake than I ever have before. But it was a
18 stage one trial. So I have to go along, knowing that
19 there is something out there for me, I just cannot
20 access it yet.

21 There are treatments out there that
22 could tremendously change the lives of people living

1 with rare diseases. We just need to work together to
2 get them approved. I think it is very important for
3 the FDA and patients to keep engaged with one another,
4 because without us, you will not know the stories, and
5 the huge need for approval. And without you, we will
6 not get safe medications for our diseases.

7 Thank you, so much, for the opportunity
8 to speak, and for holding this Rare Disease Day. I
9 really appreciate it. And I look forward to the rest
10 of the day. Thank you.

11 MS. PARK: Thank you. Next, we will
12 hear another recorded comment provided by Qais Abu Ali

13 DR. ALI: Hello. My names is Qais Abu
14 Ali. I am a medical geneticist, and the chief medical
15 officer for IMR Therapeutics [ph]. I would like to
16 thank the FDA for giving me the opportunity to talk to
17 you today.

18 Having spent the majority of the past
19 two decades diagnosis and treating patients and
20 families with rare diseases, both in the clinic and
21 the lab, then, working on developing therapeutics, I
22 have, as many of us, witnessed the tremendous advances

1 in knowledge, that left us with many new answers for
2 which we are still trying to articulate the correct
3 questions.

4 What patients and families of rare
5 disease have taught us all along, is that their
6 unwavering effort becomes their daily life, often
7 times leading to a notable relief, but also, a
8 significant void once a diagnosis is made. In
9 partnership with their healthcare providers and
10 diagnosticians, such patients and families will then
11 try to embark on their next journey, trying to find a
12 treatment.

13 Unfortunately, such an odyssey might
14 not be successful for all patients and families
15 equally, as an expert working with therapeutic
16 developers may not even exist for their specific
17 disease. Various types of consortia for rare diseases
18 have been established over the years. Some more
19 prominent than others. But what is obvious, is that
20 as a broader community, we need to have a concerted
21 effort towards a national framework that provides a
22 link to all clinicians, diagnosticians, and rare

1 disease therapeutics developers.

2 Such representation or task force will
3 need a private/public partnership, with FDA being
4 central to these efforts. Especially, as more new rare
5 diseases get identified, further therapeutics will get
6 developed. I am hoping that the next decade in the
7 rare disease community will be the one where having
8 even one single patient diagnosis with an ultra-rare
9 disease is enough justification for us to advance
10 developing its therapeutics.

11 Thank you for your attention.

12 MS. PARKS: Thank you. Next, we will
13 play our final recorded comment, from Mary Faxas.

14 MS. FAXAS: Hello. My name is Mary
15 Faxas. And I am here as a desmoid tumor patient, to
16 provide insight in ways to increase the medical
17 communities understanding of desmoid tumors.

18 Like with all rare diseases, I have a
19 lot of questions about how to bridge the current gaps
20 in the regulatory landscape and clinical research.
21 Currently, desmoid tumors do not have a standard of
22 care, or an agreed upon treatment plan. The lack of

1 comparative studies, and incredibly low patient
2 population make it difficult to establish a standard
3 of care and definitive sequence of existing treatment
4 options.

5 My diagnosis was initially unclear and
6 has taken over eight years to access a doctor who
7 knows enough about desmoids to help. The drugs that
8 work best for me are being used in an off-label manner
9 and are indicated for other cancers. There are no
10 validated response criteria established to measure
11 drugs' effectiveness with desmoids. Desmoid tumors are
12 not being recognized as the cancer that it is, thus
13 making diagnosis and treatment nearly impossible.
14 Because I had to wait so long for treatment, I have
15 three different desmoids in my leg, and I am disabled
16 because of it.

17 Clinical research remains difficult for
18 desmoid patients, as many studies are terminated early
19 due to side effects and lack of definitive evidence.
20 Recently, Dr. Mrinal Gounder utilized the FDA grants
21 to conduct a study on the use of sorafenib in desmoid
22 tumors. This research is promising and provides hope

1 that more clarify surrounding desmoid tumors will
2 arise.

3 Additionally, we would like to
4 encourage companies to seek orphan drug designation
5 for tyrosine kinase inhibitor drugs. These cancer
6 products are the most promising treatment for
7 desmoids. And by obtaining orphan drug designation,
8 the FDA can assist with clinical research to expand
9 the drug's indication and provide more information to
10 the medical community and desmoid tumor patients.

11 Lastly, desmoid patients would like the
12 FDA to continue to develop the Rare Disease Global
13 Trial Network.

14 Thank you for all your time and hard
15 work, and for taking my comment, and experiences into
16 consideration.

17 MS. PARK: This concludes the open
18 public comment period. We really appreciate everyone
19 participating today. I will now transition to Janet
20 Maynard to provide closing remarks. Thank you.

21 DR. MAYNARD: Thank you, so much,
22 Catherine. And thank you to the participants in the

1 open public comment period. We will now transition to
2 closing remarks.

3 On behalf of the FDA, I would like to
4 thank all of the panel participants, speakers, and
5 everyone on the webcast for participating in today's
6 meeting. We have greatly appreciated your attention,
7 and your interest in these topics.

8 I would also like to thank the Cross
9 Agency Planning Committee, who helped organize today's
10 meeting. And offer a special thank you to Catherine Park
11 and CDRH Studios.

12 This has been a very important meeting
13 to all the participants, including FDA, patients,
14 researchers, and industry representatives. We greatly
15 appreciate the perspectives and experiences that were
16 shared with us today. We heard about the strategies to
17 facilitate rare disease products development. There is
18 significant unmet need for patients and families
19 living with rare diseases, and it is important to
20 share these strategies to support the development of
21 rare disease treatments.

22 In the morning, we discussed rare

1 disease partnerships, collaborations, scientific
2 advancements and patient engagement. Key points
3 included the importance of patient engagement
4 throughout rare disease product development, and the
5 importance of including patients in all aspects of
6 product development.

7 In the afternoon we discussed
8 strategies to support rare disease product development
9 during COVID-19 and updates on the development of
10 drugs, biologics and devices for rare diseases.

11 While the vast majority of rare disease
12 do not have approved treatments, it is an exciting
13 time in the development of treatments for rare
14 diseases. We are seeing new opportunities to catalyze
15 the development of treatments for many rare diseases.
16 As Dr. McCormack said today, "Research is a source of
17 hope."

18 Facilitating the development of rare
19 disease treatment is critical, as rare diseases have
20 significant impacts on patients and families. Looking
21 forward, we will continue to enhance collaborations,
22 and innovation, to support optimal development of safe

1 and effective products for people with rare diseases.

2 After this meeting, if you have any
3 questions, or you would like to follow up with the
4 FDA, the Office of Patient Affairs can help. You can
5 send them an e-mail at patientaffairs@fda.gov. They
6 can help you stay connected with other activities at
7 FDA, and also help address any future questions. You
8 can also connect with the Office of Orphan Products
9 Development at orphan@fda.gov.

10 In addition, if you would like to share
11 additional feedback or perspectives after today's
12 meeting, please submit comments to the docket, which
13 will remain open until May 2, 2021.

14 Following this meeting you will receive
15 an e-mail survey, which we request that you complete
16 so that we can continue to improve our public
17 meetings. We greatly appreciate your input on today's
18 meeting.

19 And on that note, I am closing this
20 public meeting. Thank you and stay safe.

21 (Whereupon, the meeting concluded at
22 4:00 p.m.)

1 CERTIFICATE OF NOTARY PUBLIC

2 I, CARL HELLANDSJO, the officer before whom
3 the foregoing proceedings were taken, do hereby
4 certify that any witness(es) in the foregoing
5 proceedings, prior to testifying, were duly sworn;
6 that the proceedings were recorded by me and
7 thereafter reduced to typewriting by a qualified
8 transcriptionist; that said digital audio recording of
9 said proceedings are a true and accurate record to the
10 best of my knowledge, skills, and ability; that I am
11 neither counsel for, related to, nor employed by any
12 of the parties to the action in which this was taken;
13 and, further, that I am not a relative or employee of
14 any counsel or attorney employed by the parties
15 hereto, nor financially or otherwise interested in the
16 outcome of this action.

17

18 <%25443,Signature%>
19 CARL HELLANSJO
Notary Public in and for the
20 State of Washington, D.C.

21

22

1 CERTIFICATE OF TRANSCRIBER

2 I, CAITLIN BALLARD, do hereby certify that
3 this transcript was prepared from the digital audio
4 recording of the foregoing proceeding, that said
5 transcript is a true and accurate record of the
6 proceedings to the best of my knowledge, skills, and
7 ability; that I am neither counsel for, related to,
8 nor employed by any of the parties to the action in
9 which this was taken; and, further, that I am not a
10 relative or employee of any counsel or attorney
11 employed by the parties hereto, nor financially or
12 otherwise interested in the outcome of this action.

13

14

<%26303,Signature%>
CATILIN BALLARD

15

16

17

18

19

20

21

22