

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ONCOLOGIC DRUGS ADVISORY COMMITTEE (ODAC) MEETING

Virtual Meeting

Tuesday, February 9, 2021

10:00 a.m. to 2:35 p.m.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Meeting Roster

DESIGNATED FEDERAL OFFICER (Non-Voting)

She-Chia Chen, PharmD

Division of Advisory Committee and
Consultant Management
Office of Executive Programs, CDER, FDA

ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)

Philip C. Hoffman, MD

(Chairperson)

Professor of Medicine
The University of Chicago
Section of Hematology/Oncology
Department of Medicine
Chicago, Illinois

Susan Halabi, PhD

Professor of Biostatistics and Bioinformatics
Duke University Medical Center
Durham, North Carolina

1 **David E. Mitchell**

2 *(Consumer Representative)*

3 Founder, Patients for Affordable Drugs

4 Bethesda, Maryland

5

6 **ACTING INDUSTRY REPRESENTATIVE TO THE COMMITTEE**

7 **(Non-Voting)**

8 **Albert L. Kraus, PhD**

9 Global Regulatory Portfolio Lead, Oncology

10 Pfizer, Inc.

11 Guilford, Connecticut

12

13 **TEMPORARY MEMBERS (Voting)**

14 **Deborah K. Armstrong, MD**

15 Professor of Oncology

16 Professor of Gynecology and Obstetrics

17 The Skip Viragh Outpatient Cancer Building

18 Director, Breast and Ovarian Surveillance Service

19 Johns Hopkins Sidney Kimmel Comprehensive

20 Cancer Center

21 Baltimore, Maryland

22

1 **Matthew Ellis, MD, PhD**

2 Professor and Breast Center Director

3 Baylor College of Medicine

4 Houston, Texas

5

6 **Daniel F. Hayes, MD, FASCO, FACP**

7 Stuart B. Padnos Professor of Breast Cancer

8 Research

9 University of Michigan Rogel Cancer Center

10 Ann Arbor, Michigan

11

12 **Stan Lipkowitz, MD, PhD**

13 Chief, Women's Malignancies Branch

14 Center for Cancer Research

15 National Cancer Institute

16 National Institutes of Health

17 Bethesda, Maryland

18

19

20

21

22

1 **Natalie Compagni Portis, PsyD, MFT**

2 *(Patient Representative)*

3 Oakland, California

4

5 **Andrew D. Seidman, MD**

6 Attending Physician, Breast Medicine Service

7 Medical Director, Bobst International Center

8 Memorial Sloan Kettering Cancer Center

9 Professor of Medicine

10 Weill Cornell Medical College

11 New York City, New York

12

13 **Antonio C. Wolff, MD, FACP, FASCO**

14 Professor of Oncology

15 Director, Breast Cancer Trials

16 Johns Hopkins University Kimmel Cancer Center

17 Baltimore, Maryland

18

19

20

21

22

1 **FDA PARTICIPANTS (Non-Voting)**

2 **Richard Pazdur, MD**

3 Director, Oncology Center of Excellence (OCE)

4 Acting Director, Office of Oncologic Diseases (OOD)

5 Office of New Drugs (OND), CDER, FDA

6

7 **Julia Beaver, MD**

8 Chief of Medical Oncology, OCE

9 Deputy Director (acting)

10 OOD, OND, CDER, FDA

11

12 **Laleh Amiri-Kordestani, MD**

13 Director, Division of Oncology 1 (DO1)

14 OOD, OND, CDER, FDA

15

16 **Christy Osgood, MD**

17 Cross-Discipline Team Leader

18 Breast and Gynecologic Malignancies Team

19 DO1, OOD, OND, CDER, FDA

20

21

22

1 **Mirat Shah, MD**

2 Clinical Reviewer

3 Breast and Gynecologic Malignancies Team

4 DO1, OOD, OND, CDER, FDA

5

6 **Mallorie Fiero, PhD**

7 Statistical Team Leader

8 Division of Biometrics V (DBV)

9 Office of Biostatistics (OB)

10 Office of Translational Sciences (OTS)

11 CDER, FDA

12

13 **Anup Amatya, PhD**

14 Statistical Reviewer

15 DBV, OB, OTS, CDER, FDA

16

17

18

19

20

21

22

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order	
4	Philip Hoffman, MD	10
5	Introduction of Committee	
6	She-Chia Chen, PharmD	10
7	Conflict of Interest Statement	
8	She-Chia Chen, PharmD	15
9	FDA Introductory Comments	
10	Christy Osgood, MD	21
11	Applicant Presentations - Merck Sharp & Dohme	
12	Introduction	
13	Sunita Zalani, PhD	30
14	Treatment Landscape and Unmet Need in	
15	Triple Negative Breast Cancer	
16	Joyce O'Shaughnessy, MD	34
17	Efficacy and Safety	
18	Vassiliki Karantza, MD, PhD	41
19	Clinical Perspective	
20	Hope Rugo, MD	57
21		
22		

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C O N T E N T S (continued)

AGENDA ITEM	PAGE
FDA Presentation	
BLA 125514 Supplement 89 - Pembrolizumab	
Mirat Shah, MD	67
Clarifying Questions to Presenters	96
Open Public Hearing	123
Clarifying Questions to Presenters (continued)	164
Questions to the Committee and Discussion	208
Adjournment	224

P R O C E E D I N G S

(10:00 a.m.)

Call to Order

DR. HOFFMAN: Good morning, and welcome. I would first like to remind everyone to please mute your line when you are not speaking. For media and press, the FDA press contact is Chanapa Tantibanchachai. Her email and phone number are currently displayed.

My name is Philip Hoffman, and I will be chairing today's meeting. I will now call the February 9, 2021 meeting of the Oncologic Drugs Advisory Committee to order. Dr. She-Chia Chen is the designated federal officer for this meeting and will begin with introductions.

Introduction of Committee

DR. CHEN: Good morning. My name is She-Chia Chen, and I am the designated federal officer for this meeting. When I call your name, please introduce yourself by stating your name and affiliation.

Let's start with Dr. Halabi.

1 (No response.)

2 DR. CHEN: Dr. Halabi?

3 DR. HALABI: Yes. Good morning, everyone.
4 This is Susan Halabi. I'm a statistician at Duke
5 University.

6 DR. CHEN: Dr. Hoffman?

7 DR. HOFFMAN: My name is Philip Hoffman.
8 I'm a medical oncologist at the University of
9 Chicago.

10 DR. CHEN: Mr. Mitchell?

11 MR. MITCHELL: I'm David Mitchell. I'm the
12 consumer representative to the ODAC, and I'm also a
13 multiple myeloma patient.

14 DR. CHEN: Dr. Armstrong?

15 DR. ARMSTRONG: My name is Deb Armstrong.
16 I'm a medical oncologist at Johns Hopkins, a former
17 member of ODAC, and former ODAC chair.

18 DR. CHEN: Dr. Ellis?

19 DR. ELLIS: My name is Matthew Ellis. I'm
20 director of the Lester and Sue Smith Breast Center
21 at Baylor College of Medicine in Houston, Texas.

22 DR. CHEN: Dr. Hayes?

1 DR. HAYES: I'm Dr. Daniel Hayes. I'm a
2 medical oncologist and breast cancer expert at the
3 University of Michigan.

4 DR. CHEN: Dr. Lipkowitz?

5 DR. LIPKOWITZ: My name is Stan Lipkowitz.
6 I'm a medical oncologist and head of the Women's
7 Malignancies Branch at the National Cancer
8 Institute intramural program.

9 DR. CHEN: Dr. Portis?

10 DR. COMPAGNI PORTIS: Yes. This is Natalie
11 Compagni Portis, and I'm the patient representative
12 for today's meeting.

13 DR. CHEN: Dr. Seidman?

14 DR. SEIDMAN: This is Dr. Andrew Seidman.
15 I'm a breast medical oncologist at Memorial
16 Sloan Kettering Cancer Center.

17 DR. CHEN: Dr. Wolff?

18 DR. WOLFF: My name is Dr. Antonio Wolff. I
19 am a breast medical oncologist at Johns Hopkins
20 University in Baltimore.

21 DR. CHEN: Dr. Kraus?

22 DR. KRAUS: Hi. Yes. I'm Albert Kraus.

1 I'm a global regulatory portfolio lead in oncology.
2 I work with Pfizer to bring new drugs to patients,
3 and I'm the industry representative today. Thank
4 you.

5 DR. CHEN: Next are our FDA participants.
6 We'll start with Dr. Pazdur.

7 DR. PAZDUR: Hi. This is Rick Pazdur. I'm
8 the director of the Oncology Center of Excellence
9 at the FDA.

10 DR. CHEN: Dr. Beaver?

11 DR. BEAVER: Hi. I'm Julia Beaver. I'm
12 acting deputy director in the Office of Oncologic
13 Diseases and chief of medical oncology in the
14 Oncology Center of Excellence at FDA.

15 DR. CHEN: Dr. Amiri?

16 DR. AMIRI-KORDESTANI: Hi. This is Laleh
17 Amiri. I'm a hematologist/oncologist. I'm the
18 director of the Division of Oncology 1.

19 DR. CHEN: Dr. Osgood?

20 DR. OSGOOD: Hi. This is Christy Osgood. I
21 am the clinical team leader from the FDA in the
22 Division of Oncology Products 1.

1 DR. CHEN: Dr. Shah?

2 DR. SHAH: Good morning. My name is Mirat
3 Shah, and I'm a medical oncologist and a clinical
4 reviewer on the Breast and Gyn Malignancies Team
5 within the Division of Oncology 1 at the FDA.

6 DR. CHEN: Dr. Fiero?

7 DR. FIERO: Hi. This is Mallorie Fiero. I
8 am the statistical team leader in the Office of
9 Biostatistics, supporting the Division of
10 Oncology 1.

11 DR. CHEN: And Dr. Amatya.

12 DR. AMATYA: Hi. This is Anup Amatya. I am
13 the statistical reviewer at FDA. Thank you.

14 DR. HOFFMAN: For topics such as those being
15 discussed at this meeting, there are often a
16 variety of opinions, some of which are quite
17 strongly held. Our goal is that this meeting will
18 be a fair and open forum for discussion of these
19 issues and that individuals can express their views
20 without interruption.

21 Thus, as a gentle reminder, individuals will
22 be allowed to speak into the record only if

1 recognized by the chairperson. We look forward to
2 a productive meeting.

3 In the spirit of the Federal Advisory
4 Committee Act and the Government in the Sunshine
5 Act, we ask that the advisory committee members
6 take care that their conversations about the topic
7 at hand take place in the open forum of the
8 meeting.

9 We are aware that members of the media are
10 anxious to speak with the FDA about these
11 proceedings, however, FDA will refrain from
12 discussing the details of this meeting with the
13 media until its conclusion. Also, the committee is
14 reminded to please refrain from discussing the
15 meeting topic during the break. Thank you.

16 Dr. She-Chia Chen will read the Conflict of
17 Interest Statement for the meeting.

18 **Conflict of Interest Statement**

19 DR. CHEN: The Food and Drug Administration,
20 FDA, is convening today's meeting of the Oncologic
21 Drugs Advisory Committee under the authority of the
22 Federal Advisory Committee Act, FACA, of 1972.

1 With the exception of the industry representative,
2 all members and temporary voting members of the
3 committee are special government employees, SGEs,
4 or regular federal employees from other agencies
5 and are subject to federal conflict of interest
6 laws and regulations.

7 The following information on the status of
8 this committee's compliance with federal ethics and
9 conflict of interest laws, covered by but not
10 limited to those found at 18 U.S.C. Section 208, is
11 being provided to participants in today's meeting
12 and to the public.

13 FDA has determined that members and
14 temporary voting members of this committee are in
15 compliance with federal ethics and conflict of
16 interest laws. Under 18 U.S.C. Section 208,
17 Congress has authorized FDA to grant waivers to
18 special government employees and regular federal
19 employees who have potential financial conflicts
20 when it is determined that the agency's need for a
21 special government employee's services outweighs
22 his or her potential financial conflict of interest

1 or when the interest of a regular federal employee
2 is not so substantial as to be deemed likely to
3 affect the integrity of the services which the
4 government may expect from the employee.

5 Related to the discussion of today's
6 meeting, members and temporary voting members of
7 this committee have been screened for potential
8 financial conflicts of interests of their own as
9 well as those imputed to them, including those of
10 their spouses or minor children and, for purposes
11 of 18 U.S.C. Section 208, their employers. These
12 interests may include investments; consulting;
13 expert witness testimony; contracts, grants,
14 CRADAs; teaching, speaking, writing; patents and
15 royalties; and primary employment.

16 Today's agenda involves the discussion of
17 supplemental biologics license application, sBLA,
18 125514/s-089, for Keytruda, pembrolizumab,
19 submitted by Merck Sharp & Dohme Corporation, a
20 subsidiary of Merck & Company Incorporated. The
21 proposed indication use for this product is for the
22 treatment of patients with high-risk, early-stage,

1 triple-negative breast cancer in combination with
2 chemotherapy as neoadjuvant treatment, then as a
3 single agent as adjuvant treatment after surgery.

4 This is a particular matters meeting during
5 which specific matters related to Merck's sBLA will
6 be discussed. Based on the agenda for today's
7 meeting and all financial interests reported by the
8 committee members and temporary voting members,
9 conflict of interest waivers have been issued in
10 accordance with 18 U.S.C. Section 208 (b) (3) to
11 Drs. Deborah Armstrong; Matthew Ellis; Antonio
12 Wolff; and Philip Hoffman.

13 Dr. Armstrong's waiver involves two of her
14 employers' current research contracts for studies
15 involving pembrolizumab. The study is funded by
16 Translational Research in Oncology and University
17 of California, Los Angeles, for which her employer
18 receives \$0 to \$50,000 dollars annually and
19 Dr. Armstrong receives \$0 to \$5,000 dollars
20 annually in salary support.

21 The second study is funded by Merck Sharp &
22 Dohme, a subsidiary of Merck & Company, and

1 University of Virginia, for which her employer
2 receives \$0 to \$50,000 annually and Dr. Armstrong
3 receives \$0 to \$5,000 annually in salary support.

4 Dr. Ellis' waiver involved his investment
5 holdings in a healthcare sector mutual fund.

6 Dr. Wolff's waiver involves his investment
7 holdings in a healthcare sector mutual fund

8 Dr. Hoffman's waiver involves his employer's
9 current research contract for a study on
10 pembrolizumab, sponsored by Merck & Company, for
11 which his employer received \$0 to \$50,000 annually.

12 The waivers allow these individual to
13 participate fully in today's deliberations. FDA's
14 reasons for issuing the waivers are described in
15 the waiver documents, which are posted on FDA's
16 website at [www.fda.gov/advisory-committees/
17 committees-and-meeting-materials/human-drug-
18 advisory-committees](http://www.fda.gov/advisory-committees/committees-and-meeting-materials/human-drug-advisory-committees).

19 Copies of the waivers may also be obtained
20 by submitting a written request to the agency's
21 Freedom of Information Division, 5630 Fishers Lane,
22 Room 1035, Rockville, Maryland, 20857, or requests

1 may be sent via fax to 301-827-9267.

2 To ensure transparency, we encourage all
3 standing committee members and temporary voting
4 members to disclose any public statements that they
5 have made concerning the product at issue.

6 With respect to FDA's invited industry
7 representative, we would like to disclose that
8 Dr. Albert Kraus is participating in this meeting as
9 a non-voting industry representative acting on
10 behalf of regulated industry. Dr. Kraus' role at
11 this meeting is to represent industry in general
12 and not any particular company. Dr. Kraus is
13 employed by Pfizer.

14 We would like to remind members and
15 temporary voting members that if the discussions
16 involve any other products or firms not already on
17 the agenda for which an FDA participant has a
18 personal or imputed financial interest, the
19 participants need to exclude themselves from such
20 involvement, and their exclusion will be noted for
21 the record. FDA encourages all other participants
22 to advise the committee of any financial

1 relationships that they may have with the firm at
2 issue. Thank you.

3 DR. HOFFMAN: We will proceed with FDA
4 introductory comments from Dr. Christy Osgood.

5 **FDA Introductory Comments - Christy Osgood**

6 DR. OSGOOD: Good morning, and welcome to
7 the Oncologic Drugs Advisory Committee, or ODAC,
8 meeting. I would like to thank all the committee
9 members for attending and providing your advice
10 today.

11 My name is Christy Osgood, and I am a
12 pediatric oncologist and the cross-disciplinary
13 team leader for the Biologics Licensing
14 Application 125514 Supplement 89, for
15 pembrolizumab. This application was submitted by
16 Merck, who I will refer to as the applicant for the
17 remainder of the presentation. I will be providing
18 an introduction to the application and the issues
19 that the FDA is requesting the committee to
20 consider.

21 The applicant has proposed the following
22 indication. Pembrolizumab is indicated for the

1 treatment of patients with high-risk, early-stage,
2 triple-negative breast cancer in combination with
3 chemotherapy as neoadjuvant treatment, and then as
4 a single agent for adjuvant treatment following
5 surgery.

6 The applicant seeks an accelerated approval
7 for the neoadjuvant and adjuvant treatment of
8 early-phase, triple-negative breast cancer, or
9 TNBC, based on demonstration of an improvement in
10 pathological complete response, or pCR rate, and
11 event-free survival, or EFS, result from interim
12 analysis 3, or IA3.

13 pCR has not been established as an endpoint
14 indicative of clinical benefit due to the
15 uncertainty regarding its relationship to EFS and
16 overall survival, or OS, which are established
17 endpoints of clinical benefit.

18 For a drug to receive an accelerated
19 approval for neoadjuvant therapy, the FDA considers
20 the magnitude in pCR rate improvement and the
21 acceptability of the added toxicity in a group of
22 patients with a potentially curable disease.

1 Furthermore, compelling data of clinical benefit
2 from another treatment setting in the same disease
3 may mitigate some of the uncertainties surrounding
4 the pCR endpoint.

5 To date, only one product, pertuzumab, a
6 HER2-targeted monoclonal antibody, has been granted
7 accelerated approval for neoadjuvant treatment
8 based on an 18 percent improvement in pCR rate, as
9 well as supportive efficacy data in the metastatic
10 setting.

11 The applicant submitted interim results from
12 KEYNOTE-522 to support their proposed indication.
13 KEYNOTE-522 is a randomized, double-blind, placebo-
14 controlled trial comparing pembrolizumab to placebo
15 in combination with chemotherapy in the neoadjuvant
16 setting and as monotherapy in the adjuvant setting
17 in 1,174 patients with high-risk, early-stage,
18 triple-negative breast cancer. The co-primary
19 endpoints are pCR rate and EFS, and OS is a key
20 secondary endpoint.

21 As pCR rate is measured at the time of
22 surgery, it only captures the effect of the

1 neoadjuvant portion of treatment, not the adjuvant
2 portion. In contrast, EFS and OS incorporate the
3 effect of the entire neoadjuvant and adjuvant
4 treatment regimen.

5 At the most recent analysis, IA3, the pCR
6 rate difference between the two treatment arms was
7 7.5 percent with 95 percent confidence intervals of
8 1.6 percent to 13.4 percent, based on all
9 randomized patients. At IA3, EFS had not met its
10 prespecified threshold for statistical significance
11 and remains immature with 53 percent of targeted
12 EFS events having occurred.

13 Interim analysis may overestimate the
14 treatment effect, particularly when the number of
15 events is small. The data are not sufficiently
16 mature for FDA to consider these interim EFS
17 results as a reliable estimate of the EFS treatment
18 effect, and further follow-up is needed.

19 Additionally, because EFS was not
20 statistically significant, the OS endpoint could
21 not be formally tested and is also immature with
22 32 percent of the targeted events having occurred.

1 Many patients with high-risk, early-stage TNBC will
2 be cured with standard therapy, and therefore the
3 added toxicity of pembrolizumab for neoadjuvant and
4 adjuvant treatment must be carefully considered.

5 Results from KEYNOTE-522 showed that
6 43 percent of patients who received pembrolizumab
7 experienced an immune-mediated adverse event
8 compared to 22 percent of patients who received
9 placebo.

10 Some immune-mediated adverse events
11 experienced by the patients who received
12 pembrolizumab were higher grade and resulted in
13 hospitalization. Some of these toxicities,
14 particularly those with endocrine dysfunction, may
15 be irreversible or require lifelong medications in
16 patients who will be cured of their breast cancer.
17 Additionally, 4 deaths, potentially due to immune-
18 mediated adverse events, occurred in patients
19 receiving pembrolizumab.

20 Finally, although there were fewer immune-
21 mediated adverse events during the adjuvant phase,
22 these toxicities are still concerning because this

1 portion of the treatment regimen has not
2 demonstrated a significant improvement on any long-
3 term efficacy endpoints and may be adding risk
4 without benefit.

5 Given these issues, it is not clear whether
6 available data are reasonably likely to translate
7 into improved outcomes for patients with high-risk,
8 early-stage TNBC. There is uncertainty regarding
9 the risk-benefit of neoadjuvant an adjuvant
10 pembrolizumab, given the questionable clinical
11 meaningfulness of a small improvement in pCR rate,
12 the immaturity of the EFS and OS data, and
13 increased immune-mediated toxicity.

14 Given this questionable clinical
15 meaningfulness of the pCR rate improvement and the
16 uncertainty of the EFS and OS data, the FDA has
17 discouraged the applicant from submitting this
18 application on two prior occasions.

19 Additionally, KEYNOTE-522 is an ongoing
20 trial with multiple additional interim analyses
21 planned and the final analysis. During a May 2020
22 meeting of the applicant's external data monitoring

1 committee, or DMC, it was recommended that
2 KEYNOTE-522 continue without change as the EFS
3 endpoint was not met at IA3. This further
4 follow-up recommended by the DMC is necessary to
5 characterize whether there is a clinical benefit of
6 neoadjuvant and adjuvant pembrolizumab.

7 The FDA has identified the following five
8 key issues for the ODAC to consider for BLA 125514
9 Supplement 89.

10 Neoadjuvant pembrolizumab confers only a
11 small absolute improvement in pCR rate, which is of
12 questionable clinical meaningfulness.

13 EFS and OS data are immature and unreliable.

14 The design and results of KEYNOTE-522 do not
15 currently support a role for adjuvant
16 pembrolizumab.

17 Support of data of clinical benefit from
18 another TNBC treatment setting are lacking.

19 The addition of pembrolizumab is associated
20 with increased toxicity due to increased immune-
21 mediated adverse events, some of which may be
22 severe, irreversible, and/or require lifelong

1 medication in potentially curable and otherwise
2 healthy patients.

3 Based on this information and the key issues
4 identified, FDA will ask the ODAC to vote on the
5 following question.

6 Should a regulatory decision on
7 pembrolizumab in combination with multi-agent
8 chemotherapy for neoadjuvant treatment, followed by
9 pembrolizumab monotherapy for adjuvant treatment of
10 high-risk, early-stage TNBC, be deferred until
11 further data are available from future analyses of
12 KEYNOTE-522?

13 The FDA would like the ODAC members to
14 comment on whether they think there is evidence of
15 benefit to outweigh the risks of the pembrolizumab
16 neoadjuvant and adjuvant treatment regimen at this
17 time or whether we should await further data on
18 long-term outcomes, including EFS and OS from
19 future interim analyses, before making a regulatory
20 decision. It is important to note that the results
21 from the next interim analysis will be available in
22 the second half of 2021.

1 Thank you, and I look forward to an
2 interesting discussion.

3 DR. HOFFMAN: Both the Food and Drug
4 Administration and the public believe in a
5 transparent process for information gathering and
6 decision making. To ensure such transparency at
7 the advisory committee meeting, FDA believes that
8 it is important to understand the context of an
9 individual's presentation.

10 For this reason, FDA encourages all
11 participants, including the Merck Sharp & Dohme's
12 non-employee presenters, to advise the committee of
13 any financial relationships that they may have with
14 the sponsor such as consulting fees, travel
15 expenses, honoraria, and interest in the sponsor,
16 including equity interests and those based upon the
17 outcome of the meeting.

18 Likewise, FDA encourages you at the
19 beginning of your presentation to advise the
20 committee if you do not have any such financial
21 relationships. If you choose not to address this
22 issue of financial relationships at the beginning

1 of your presentation, it will not preclude you from
2 speaking.

3 We will now proceed with presentations from
4 Merck Sharp & Dohme Corporation, immediately
5 followed by FDA presentation.

6 **Applicant Presentation - Sunita Zalani**

7 DR. ZALANI: Good morning, members of the
8 FDA and Oncology Drugs Advisory Committee. My name
9 is Sunita Zalani, and I'm vice president in the
10 oncology therapeutic area and Global Regulatory
11 Affairs and Safety at Merck. It's a pleasure to be
12 here today to present to you the data in support of
13 our supplemental BLA for pembrolizumab for the
14 treatment of patients with high-risk, early-stage,
15 triple-negative breast cancer or TNBC.

16 Keytruda, or pembrolizumab, is a highly
17 selective humanized, monoclonal antibody that binds
18 to PD-1 and blocks the interaction of PD-1 with its
19 ligands PD-L1 and PD-L2, thereby enhancing the
20 anti-tumor immune response.

21 To date, U.S. FDA has approved pembrolizumab
22 in 17 tumor types. Notably, pembrolizumab has been

1 approved in combination with platinum-based
2 chemotherapy in several indications, as shown in
3 green text, including most recently an accelerated
4 approval in locally recurrent unresectable or
5 metastatic TNBC.

6 KEYNOTE-522 is an ongoing phase 3,
7 randomized double-blind study evaluating
8 pembrolizumab plus chemotherapy versus placebo plus
9 chemotherapy prior to surgery, followed by
10 pembrolizumab monotherapy or placebo after surgery.
11 KEYNOTE-522 was specifically designed to evaluate
12 the short-term pathologic complete response and
13 long-term event-free survival benefit of the entire
14 regimen in the same population in the same study.

15 Based on results of KEYNOTE-522, we are
16 seeking approval for the following indications.
17 Keytruda is indicated for the treatment of patients
18 with high-risk, early-stage, triple-negative breast
19 cancer in combination with chemotherapy as
20 neoadjuvant treatment, then as a single agent as
21 adjuvant treatment after surgery. We are
22 requesting accelerated approval based on endpoints

1 that are reasonably likely to predict clinical
2 benefit. Confirmatory studies are ongoing to
3 convert to regular approval.

4 This time line illustrates the key
5 regulatory milestones. The design of KEYNOTE-522
6 co-primary endpoints of pCR and EFS were reviewed
7 with FDA in 2016, prior to study initiation. In
8 2017, FDA granted breakthrough therapy designation
9 for this setting.

10 The marketing application was submitted in
11 May 2020. Following discussions with FDA, data
12 from the recent interim analysis 3 are included in
13 this presentation. Our key topic for discussion at
14 this ODAC is whether the magnitude of pCR response
15 and EFS results support accelerated approval of
16 pembrolizumab in the neoadjuvant and
17 adjuvant setting for patients with high-risk,
18 early-stage TNBC.

19 Today we will discuss the unmet need in
20 patients with high-risk, early-stage TNBC. We will
21 then present data from KEYNOTE-522, demonstrating
22 that the study met its prespecified primary

1 endpoint.

2 Treatment with pembrolizumab in combination
3 with chemotherapy produced a statistically
4 significant improvement in pCR. The pCR data are
5 further supported by a promising effect on EFS with
6 a well-characterized and generally manageable
7 safety profile. Lastly, we will address the
8 totality of evidence supporting benefit-risk in
9 this population.

10 The agenda for the sponsor presentation is
11 as follows. Dr. Joyce O'Shaughnessy, a
12 distinguished breast cancer expert and clinical
13 trialist from Baylor University Medical Center,
14 will present the disease background and unmet need.
15 Dr. Vassiliki Karantza from Merck oncology will
16 present the efficacy and safety data from
17 KEYNOTE-522, and we will conclude with Dr. Hope
18 Rugo, also a breast cancer expert and experienced
19 clinical trialist from the University of
20 California, San Francisco, who will provide her
21 clinical perspective.

22 Dr. Vicki Goodman, vice president of

1 oncology development at Merck, will moderate the
2 question and answer session. In addition,
3 Dr. Aditya Bardia from Massachusetts General
4 Hospital and Dr. Don Berry from the University of
5 Texas MD Anderson Cancer Center will be available
6 to answer your questions. We look forward to a
7 productive dialogue.

8 Now I would like to turn the presentation
9 over to Dr. O'Shaughnessy.

10 **Applicant Presentation - Joyce O'Shaughnessy**

11 DR. O'SHAUGHNESSY: Good morning. I'm Joyce
12 O'Shaughnessy from Baylor University Medical
13 Center, Texas Oncology and US Oncology in Dallas,
14 Texas. It's my pleasure to describe for you the
15 treatment landscape and unmet medical need in
16 triple-negative breast cancer. I'm a paid
17 consultant from Merck and I have no other financial
18 interest in the outcome of this meeting.

19 Triple-negative breast cancer, or TNBC, is a
20 virulent subtype of breast cancer associated with
21 early onset and an increased risk of early
22 recurrence. TNBC comprises about 15 to 20 percent

1 of breast cancers. Premenopausal and African
2 American women are at higher risk of developing
3 this subtype.

4 At diagnosis, the majority of
5 triple-negative breast cancers are histologically
6 grade 3 and highly proliferative, and most are
7 diagnosed as stage 2 or stage 3 disease. And TNBC
8 generally recurs quickly in the first 1 to 3 years
9 following diagnosis in lungs, liver, and brain.

10 Stage for stage, TNBC, in the black curve,
11 is associated with shorter overall survival
12 compared with other breast cancer subtypes, despite
13 the use of anthracycline and taxane-based systemic
14 chemotherapy given in the curative setting.
15 Overall, the 5-year survival rate for TNBC is about
16 77 percent compared with 93 percent for the other
17 breast cancer subtypes.

18 Patients with stage 2 or 3 TNBC are
19 considered at high risk for recurrence of advanced
20 metastatic disease after definitive surgery. Those
21 with stage 3 disease have a poor prognosis with a
22 4-year breast cancer specific survival rate of

1 about 50 percent, and those with stage 4 disease
2 have a particularly poor outcome with a median
3 survival of about 1 year and a 4-year survival rate
4 of about 10 percent.

5 Shown here are the mature 5-year, event-free
6 and overall survival rates updated in 2019 from the
7 CALGB 40603 study of standard of care in
8 neoadjuvant chemotherapy in patients with stage 2
9 or 3 TNBC. Patients in KEYNOTE-522 also had
10 stage 2 or 3 disease, and these curves illustrate
11 the substantial unmet need that these patients have
12 even with state-of-the-art therapy, with 30 percent
13 of patients developing disease recurrence by
14 3 years.

15 Chemotherapy is the mainstay of treatment in
16 the curative setting, and the NCCN and ESMO
17 guidelines recommend neoadjuvant regimens,
18 including doxorubicin and cyclophosphamide,
19 followed by a taxane or docetaxel and
20 cyclophosphamide.

21 Taxane, anthracycline-based regimens are
22 commonly used in clinical practice and generally

1 result in pathologic complete response rates in the
2 breast and axillary lymph nodes in the range of
3 30 to 40 percent. The addition of carboplatin to
4 taxane, anthracycline-based neoadjuvant
5 chemotherapy increases the pathological complete
6 response rates to approximately 50 percent.

7 Improving the pathologic complete response
8 rate is very important in early-stage,
9 triple-negative breast cancer because patients with
10 residual disease at definitive surgery have a much
11 worse prognosis.

12 Shown here are two studies demonstrating
13 that patients who achieve a path CR with
14 preoperative chemotherapy have substantially
15 improved disease-free survival. On the left is the
16 Cortazar meta-analysis from 2014 and on the right
17 are data from CALGB 40603.

18 Both studies showed that patients who do not
19 obtain a pathologic completely response have a very
20 poor 5-year disease-free survival rate of only 50
21 to 60 percent, while those with a path CR have
22 about an 85 percent disease-free survival rate at

1 5 years. Of note, despite having a path CR, these
2 patients have a 15 percent persistent risk of
3 disease recurrence.

4 The association between path CR and
5 event-free survival is strongest in triple-negative
6 breast cancer as demonstrated by these data sets.
7 Based on these and other data, regulatory guidance
8 supports the use of path CR as an endpoint for
9 accelerated approval of neoadjuvant therapy in
10 high-risk, early-stage breast cancer, including
11 triple-negative breast cancer.

12 Turning now to immunotherapy, this slide
13 outlines the rationale for use of immunotherapy in
14 TNBC. PD-L1 is expressed in a higher proportion of
15 triple-negative breast cancers, in general about
16 50 percent, compared with 20 to 30 percent in other
17 breast cancer subtypes, and in high-risk,
18 early-stage TNBC, PD-L1 expression is observed in
19 approximately 85 percent of tumors.

20 A greater proportion of TNBCs contain tumor
21 infiltrating lymphocytes, approximately 70 percent,
22 compared with 25 to 44 percent for the other breast

1 cancer subtypes; and in the I-SPY2 trial, immune
2 cell infiltrates were associated with path CR with
3 neoadjuvant pembrolizumab.

4 There was also a strong biologic and
5 clinical rationale for combining immunotherapy with
6 chemotherapy. Chemotherapy results in tumor lysis,
7 increased antigen shedding, and antigen
8 presentation. It's also been shown that
9 preoperative chemotherapy can result in increased
10 expression of PD-L1.

11 Two recent clinical trials in triple-
12 negative breast cancer patients, KEYNOTE-173 and
13 I-SPY2, have shown that adding pembrolizumab to
14 standard neoadjuvant chemotherapy results in high
15 path CR rates of about 60 percent in both trials.

16 In summary, patients with high-risk,
17 early-stage, triple-negative breast cancer have a
18 worse prognosis compared with other subtypes, and
19 patients with stage 2 or 3 breast cancer have an
20 unacceptably high risk of recurrence and death with
21 current standard chemotherapy regimens.
22 Platinum-containing neoadjuvant regimens are

1 associated with the highest reported pathologic
2 complete response rates of about 50 percent.

3 The short-term goal of neoadjuvant therapy
4 is to achieve a pathologic complete response
5 because a path CR is associated with improved
6 event-free and overall survival. The long-term
7 goal of neoadjuvant plus adjuvant therapy in TNBC
8 is to prevent recurrence of incurable metastatic
9 disease.

10 In TNBC, a recurrence avoided is a death of
11 avoided. Therefore, there was a high unmet need
12 for novel therapies that can augment the
13 effectiveness of established chemotherapy in terms
14 of preventing recurrence. And finally, there's a
15 strong rationale for combining immunotherapy and
16 chemotherapy in triple-negative breast cancer
17 patients, and clinical trials have shown that
18 pembrolizumab substantially improves path CR rates
19 when combined with standard neoadjuvant
20 chemotherapy.

21 Thank you, and I'll now turn the
22 presentation over to Dr. Karantza.

1 **Applicant Presentation - Vassiliki Karantza**

2 DR. KARANTZA: Thank you, Dr. O'Shaughnessy.

3 I am Vassiliki Karantza, and I am the
4 clinical lead for the breast program at Merck.
5 This morning I will review the efficacy and safety
6 results from KEYNOTE-522 and supportive data for
7 this filing.

8 Merck's clinical development program in
9 high-risk, early-stage TNBC involves four studies
10 that enrolled more than 2200 patients and tested
11 pembrolizumab in combination with different
12 neoadjuvant chemotherapy regimens. Today we will
13 focus on the randomized placebo-controlled phase 3
14 trial known as KEYNOTE-522, which is supported by
15 data from I-SPY2 and the proof-of-concept study,
16 KEYNOTE-173.

17 In addition, we are conducting a randomized
18 phase 3 trial, KEYNOTE-242, that is testing pembro
19 versus observation as adjuvant therapy for patients
20 who did not have a pathological complete response.

21 Supportive of the KEYNOTE-522 filing is
22 KEYNOTE-355, which is a randomized

1 placebo-controlled phase 3 study of pembrolizumab,
2 plus chemotherapy compared to chemotherapy as
3 first-line treatment for metastatic TNBC. That
4 study showed a statistically significant and
5 clinically meaningful improvement in
6 progression-free survival among patients with PD-L1
7 positive tumors as measured by a combined positive
8 score greater than or equal to 10.

9 Shown here is the design of KEYNOTE-522 that
10 tested the addition of one year of pembrolizumab,
11 given before and after surgery, to standard-of-care
12 treatment for high-risk, early-stage TNBC. Based
13 on biological and clinical rationale,
14 administration of immunotherapy, both pre- and
15 post-operatively, may provide the highest
16 therapeutic benefit in the curative setting.

17 The single-study approach includes both
18 neoadjuvant phase, which ends after definitive
19 surgery, and an adjuvant phase, which starts from
20 the first adjuvant treatment and includes radiation
21 therapy as indicated.

22 KEYNOTE-522 enrolled patients with centrally

1 confirmed TNBC who had either T1c N1 to 2 disease
2 or T2 to 4 tumors independent of nodal involvement.
3 All patients had stage 2 or stage 3 disease.
4 Patients were randomized 2 to 1 to pembrolizumab
5 plus neoadjuvant carboplatin plus paclitaxel,
6 followed by doxorubicin or epirubicin plus
7 cyclophosphamide with pembrolizumab given
8 throughout or to placebo, plus the same
9 chemotherapy regimen.

10 Certification factors included nodal status
11 positive versus negative; tumor size, T1/2 versus
12 T3/4; and carboplatin scheduled weekly versus every
13 3 weeks. After surgery, patients in the pembro
14 group continued with adjuvant pembrolizumab for a
15 total of one year of pembrolizumab exposure,
16 whereas patients in the control group received
17 placebo.

18 The dual primary endpoints included
19 pathological complete response defined as
20 ypT0/Tis ypN0 assessed by the blinded local
21 pathologist and event-free survival assessed by the
22 investigator. The sample size was driven by EFS.

1 The total alpha was 2.5 percent one-sided
2 placed between the dual primary endpoints. An
3 initial alpha of 0.5 percent was allocated to the
4 path CR endpoint and 2 percent was allocated to
5 EFS. If path CR was statistically significant, the
6 alpha would be transferred to EFS.

7 Interim analysis 1 in September 2018 was
8 triggered by completion of enrollment and included
9 the first 602 randomized patients who had, or
10 should have completed, surgery by that time. It
11 was the first analysis for path CR and was the
12 prespecified primary path CR analysis.

13 Interim analysis 2 in April 2019 occurred
14 24 months after the first patient was randomized.
15 This was the final analysis of path CR, and it was
16 based on the first 1,002 randomized patients per
17 protocol. IA2 was also the first interim analysis
18 of EFS based on all 1174 patients randomized, the
19 intention-to-treat population.

20 Interim analysis 3 occurred in March 2020
21 and included approximately 53 percent of EFS events
22 required for the final analysis. We will present

1 path CR rates at each interim analysis, and for
2 EFS, we will focus on interim analysis 3 with the
3 longest follow-up.

4 Subsequent interim analysis for EFS are
5 planned until the final analysis at 327 events,
6 which is expected to occur in 2025. Overall
7 survival will only be tested if the EFS endpoint is
8 met. We will show you the analysis of OS at
9 interim analysis 3.

10 Baseline characteristics were well balanced
11 between treatment groups. The median age was 48 to
12 49 years. Over 80 percent of patients had PD-L1
13 positive tumors at the CPS cutoff of 1, and more
14 patients received weekly carboplatin. In
15 74 percent of patients, tumor size was T1 or T2,
16 and about half the patients had nodal involvement.
17 About one-quarter of patients had stage 3 disease
18 at study entry.

19 Baseline demographics in terms of race and
20 geographic region were also well balanced between
21 treatment groups. About 50 percent of patients
22 were enrolled in Europe, 20 percent in North

1 America, and 20 percent in Asia. A total of
2 1174 patients were randomized 2 to 1 from
3 March 2017 to September 2018, and they make up the
4 intention-to-treat population EFS.

5 Seven randomized patients did not start
6 neoadjuvant therapy. About 98 percent of patients
7 in both treatment groups had documented surgery,
8 and 75 percent of patients in the pembro group and
9 85 percent in the control group started adjuvant
10 treatment.

11 Per protocol, patients who discontinued
12 pembrolizumab or placebo due to adverse events
13 during the neoadjuvant phase were not allowed to
14 receive pembro or placebo after surgery. The
15 median follow-up in both treatment groups was
16 approximately 26 months at interim analysis 3.

17 The analysis of path CR of interim
18 analysis 1 is shown here. This was the primary
19 path CR analysis for the study. Pembrolizumab met
20 the primary path CR endpoint with a path CR rate of
21 64.8 percent in the pembro group compared with
22 51.2 percent in the control group.

1 The estimated delta based on a stratified
2 model was 13.6 percent with a p-value of 0.00055.
3 This was a statistically significant improvement in
4 the path CR rate, and the absolute path CR rate
5 achieved with pembrolizumab is the highest reported
6 in a randomized study in this patient population.

7 Of note, the observed path CR benefit was
8 independent of PD-L1 status at the prespecified
9 CPS threshold of 1. The estimated delta in the
10 stratified model was 14.2 percent for the CPS
11 at-least-1 population and 18.3 percent for the CPS
12 less-than-1 population.

13 Here is the prespecified subgroup analysis
14 for path CR at interim analysis 1. Pembrolizumab
15 plus chemotherapy consistently increased the
16 path CR rate compared with placebo plus
17 chemotherapy across subgroups consistent with the
18 primary path CR analysis.

19 Of note, the path CR improvement in
20 node-positive patients, representing about half of
21 patients enrolled, was 21 percent. At interim
22 analysis 2, based on the first 1,002 patients, the

1 path CR rate was 64 percent in the pembro group and
2 54.7 percent in the control group, and the delta
3 remained statistically significant. This result is
4 supportive of the primary path CR analysis at
5 interim analysis 1. At interim analysis 3, a
6 descriptive path CR analysis based on all
7 1174 patients showed a path CR rate of 63 percent
8 in the pembro group and 55.6 percent in the control
9 group.

10 Here is the first EFS analysis at interim
11 analysis 2 performed 24 months after the first
12 patient was randomized at the median follow-up of
13 15.5 months. The hazard ratio was 0.63. And here
14 is the first analysis at interim analysis 3, which
15 was performed 36 months after the first patient was
16 randomized at the median follow-up of 26 months.

17 The minimum follow-up for IA3 was about
18 18 months, so the EFS data up to that point will
19 not change. Pembrolizumab administered pre- and
20 post-operatively resulted in a 35 percent reduction
21 in the risk of disease progression, recurrence, or
22 death. The hazard ratio was 0.65.

1 At 27 months, the EFS rates were
2 86.6 percent in the pembro group compared to
3 79.4 percent in the control group. At interim
4 analysis 3, the p-value for EFS was 0.0025, which
5 did not cross the precalculated boundary for
6 significance of 0.0021.

7 We recognize that there is interest in
8 understanding the probability of success for EFS,
9 and as you saw in the briefing document, FDA
10 calculated the predictive power for interim
11 analysis 4 at 62 to 78 percent. We calculated the
12 Bayesian predictive power of achieving a
13 significantly EFS result at the next interim
14 analysis and for the remainder of the trial, based
15 on the observed data at interim analysis 3.

16 Based on our model assumptions, we
17 calculated a 73 percent probability for statistical
18 significance at interim analysis 4 within the FDA's
19 range of probabilities and greater than 95 percent
20 for the remainder of the trial. When we applied
21 FDA's methodology, we were able to replicate their
22 estimate of predictive probability at interim

1 analysis 4, and when we applied that methodology to
2 the remainder of the trial, the outcome was
3 consistent with our analysis. Dr. Berry is with us
4 to answer any questions about the model.

5 Now we look at EFS by treatment group and
6 whether patients had a path CR or not of definitive
7 surgery. It is important to keep in mind that this
8 is not a randomized comparison within each
9 subgroup, path CR yes or no.

10 On the far right, you can see the percentage
11 of patients within each treatment group who are
12 represented in each of the respective curves.
13 Patients who achieved a path CR had a more
14 favorable long-term outcome, and the additional
15 pembrolizumab to neoadjuvant chemotherapy increased
16 the number of patients in this category.

17 Notably, the curves separated at about
18 20 months, and the 27-month EFS rates were
19 96.6 percent in the pembro group and 93.5 percent
20 in the control group. Patients who did not achieve
21 a path CR had an overall worst outcome as expected,
22 but the curves separated again in favor of the

1 pembro group, this time at an earlier time point at
2 about 15 months. The 27-month EFS rates were
3 69.5 percent in the pembro group and 61.7 percent
4 in the control group.

5 In the prespecified subgroup analysis, EFS
6 consistently favored the pembrolizumab regimen over
7 control at interim analysis 3. Here is the overall
8 survival at interim analysis 3 with a median
9 follow-up of 26 months. At this early time point,
10 approximately 32 percent of required events have
11 been observed. Since EFS did not reach statistical
12 significance, no hypothesis testing was performed
13 for OS. The hazard ratio was 0.80.

14 Now turning to safety, the following slides
15 represent the safety data from interim analysis 3
16 because that is the longest follow-up. The
17 pembrolizumab safety profile is well characterized
18 based on an extensive clinical trial program and
19 postmarketing experience. We reviewed the safety
20 data from KEYNOTE-522 in the context of the
21 pembrolizumab reference safety data set, or RSD,
22 which is composed of 2799 patients with advanced

1 melanoma and non-small cell lung cancer. It
2 represents the established safety profile of
3 pembrolizumab monotherapy.

4 Safety was evaluated in all patients who
5 received at least one dose of study treatment or
6 surgery. The median duration of exposure was about
7 5 months in both neoadjuvant and adjuvant phases,
8 and it was consistent between treatment groups.

9 This is a high-level summary of the safety
10 profile in the neoadjuvant and adjuvant phases
11 separately. In the neoadjuvant phase, the overall
12 rate of adverse events in grade 3 to 5 adverse
13 events were generally balanced across treatment
14 groups. There were more serious adverse events and
15 adverse events leading to discontinuation in the
16 pembro group, reflecting the incremental toxicity
17 of adding pembrolizumab to chemotherapy; however,
18 no new safety concerns were identified.

19 In the adjuvant phase, patients experienced
20 fewer adverse events overall and fewer grade 3 to 5
21 adverse events, serious adverse events,
22 discontinuations due to adverse events, and

1 immune-mediated adverse events compared to the
2 neoadjuvant phase. In the neoadjuvant phase, there
3 were 5 deaths in the pembro group and 1 death in
4 the control group due to adverse events with an
5 incidence of 0.6 percent versus 0.3 percent. In
6 the adjuvant phase, there were 2 deaths in the
7 pembro group. In total, 3 deaths due to
8 pneumonitis, pulmonary embolism, and autoimmune
9 encephalitis were considered by the investigator to
10 be related to pembrolizumab.

11 The most common grade 3 to 5 adverse events
12 were overall balanced between treatment groups.
13 During the neoadjuvant phase, these were primarily
14 chemotherapy-related hematologic toxicities;
15 therefore, adding pembrolizumab to chemotherapy did
16 not increase the severity of common chemotherapy-
17 related adverse events. During the adjuvant phase,
18 the incidence was much lower, and individual events
19 were observed in less than 1 percent of patients.

20 As mentioned, serious adverse events
21 occurred at a higher frequency in the pembro group
22 compared to the control group. During the

1 neoadjuvant phase, pyrexia, adrenal insufficiency,
2 and the AEs, or adverse events, occurring in less
3 than 1 percent of patients accounted for much of
4 the increase in serious adverse events observed in
5 the pembro group. Again, there was no pattern
6 suggesting a new safety concern. The incidence of
7 serious adverse events was low during the adjuvant
8 phase.

9 Immune-mediated adverse events and infusion
10 reactions were higher in the pembro group compared
11 to the control group and the RSD. Most events were
12 low grade and non-serious. There were 2 deaths due
13 to an immune-mediated adverse event in the pembro
14 group, an event of pneumonitis during the
15 neoadjuvant phase, and an event of autoimmune
16 encephalitis during the adjuvant phase.

17 The high-end frequency of immune-mediated
18 adverse events in the pembro group was primarily
19 driven by infusion reactions, hypothyroidism, and
20 severe skin reactions occurring during the
21 neoadjuvant phase. Infusion reactions and severe
22 skin reactions reflected the contribution of both

1 pembrolizumab and chemotherapy.

2 The types, nature, and severity of immune-
3 mediated adverse events observed in the pembro
4 group were generally consistent with the RSD, and
5 no new indication specific immune-mediated adverse
6 events causally related to pembro were identified.

7 Taking all this data into consideration, we
8 would like to offer the following conclusions.

9 Based on a strong biological and clinical
10 rationale, the KEYNOTE-522 regimen, with one year
11 of add-on pembrolizumab, given before and after
12 surgery, was designed to provide patients with
13 high-risk, early-stage TNBC with the highest
14 possible benefit from the use of immunotherapy in
15 the curative setting. Given this design, the
16 relative contributions of neoadjuvant and adjuvant
17 pembrolizumab to the EFS benefit cannot be
18 deciphered.

19 KEYNOTE-522 demonstrated a statistically
20 significant path CR improvement compared with
21 platinum-based chemotherapy and the highest
22 absolute path CR rate ever reported in TNBC. This

1 is very important at the individual patient level.
2 The KEYNOTE-522 regimen also showed a promising and
3 stable effect on EFS at interim analysis 3 with a
4 hazard ratio of 0.65.

5 This EFS improvement exceeds what would have
6 been predictive from a modest path CR improvement
7 in the intention-to-treat population as modeled
8 from earlier neoadjuvant chemotherapy trials.
9 Importantly, although the EFS did not reach
10 statistical significance at interim analysis 3, the
11 predictive probability of success for the entire
12 study is high.

13 The safety profile was consistent with the
14 individual profiles of pembrolizumab and
15 platinum-based chemotherapy and adverse events were
16 manageable with standard measures. No new safety
17 concerns were identified. The role of
18 pembrolizumab in the treatment of TNBC is further
19 supported by significant PFS benefit observed in
20 the metastatic setting.

21 Therefore, given the favorable benefit-risk
22 profile of the KEYNOTE-522 regimen and the unmet

1 medical need in this high-risk patient population,
2 where [indiscernible] recurrence have a very poor
3 prognosis, we are seeking accelerated approval to
4 bring this regimen to patients.

5 Thank you for your attention, and now
6 Dr. Rugo will provide her clinical perspective.

7 **Applicant Presentation - Hope Rugo**

8 DR. RUGO: Thank you, Dr. Karantza.

9 I'm Hope Rugo from the University of
10 California San Francisco Comprehensive Cancer
11 Center. I'm going to provide a brief clinical
12 perspective on the data that you've just heard in
13 the context of what Dr. O'Shaughnessy presented a
14 little earlier. I am an investigator in
15 KEYNOTE-355 and other Merck-sponsored and
16 investigator-initiated trials of pembrolizumab. I
17 am not receiving compensation for my presentation
18 today and have no financial interest in the outcome
19 of this meeting.

20 KEYNOTE-522 has established a new treatment
21 paradigm in TNBC based on a strong biologic
22 rationale for the addition of immunotherapy to

1 neoadjuvant chemotherapy while the primary tumor is
2 still present. Adjuvant administration of
3 immunotherapy may further enhance anti-tumor
4 immunity and has been shown to prolong disease-free
5 survival in multiple other tumor types.

6 In early-stage HER2-positive breast cancer,
7 there is precedent for the use of trastuzumab, a
8 drug with known immunomodulatory properties for one
9 year, including both the pre- and post-operative
10 setting, independent of achieving path CR at
11 definitive surgery.

12 The risk of disease recurrence in
13 early-stage TNBC is highest in the first 1 to
14 3 years following diagnosis; therefore, it is
15 critical to provide the most effective therapy as
16 early as possible in the curative setting, where we
17 know that achieving a path CR for an individual
18 patient has a significant and meaningful impact on
19 survival.

20 A number of neoadjuvant studies with
21 immunotherapy have reported interesting findings
22 that support the role for immunotherapy in TNBC.

1 As you can see, KEYNOTE-522 is the largest study to
2 report results to date and reported the highest
3 path CR rate with a statistically significant
4 improvement compared with chemotherapy.

5 The fact that the path CR rate was improved
6 regardless of PD-L1 expression suggests that PD-L1
7 is not a predictive marker for the impact of
8 immunotherapy on path CR when the immune system is
9 intact, but data across PD-L1 status suggest that
10 this marker may be predictive for chemotherapy
11 benefit in early-stage TNBC. It is also noteworthy
12 that the path CR rate in the control arm was quite
13 high due to the use of carboplatin.

14 The phase 2 I-SPY2 trial showed an
15 improvement in the estimated path CR rate when
16 patients received only 4 doses of pembrolizumab
17 with paclitaxel followed by AC, compared with
18 standard taxane anthracycline chemotherapy.
19 IMpassion 031, with just over 300 randomized
20 patients, showed that the addition of atezolizumab
21 to nab-paclitaxel, followed by anthracycline-based
22 chemotherapy, significantly improved the path CR

1 rate regardless of PD-L1 status, similar to
2 KEYNOTE-522.

3 Of note, two trials, NEOTRIP and GEPARNUEVO,
4 did not show a significant benefit in path CR with
5 the addition of checkpoint inhibitors to standard
6 chemotherapy. NEOTRIP used a taxane and platinum
7 chemotherapy regimen, suggesting that
8 anthracyclines may be important to obtain the
9 greatest benefit from immunotherapy as measured by
10 path CR.

11 GEPARNUEVO enrolled a high proportion of
12 patients with very early-stage node-negative
13 disease and incorporated the checkpoint inhibitor,
14 durvalumab. This raises the possibility that the
15 trial was underpowered for those who might benefit
16 the most from immunotherapy, those with higher
17 stage node-positive disease.

18 The magnitude of path CR improvement needed
19 to achieve a meaningful EFS improvement in a single
20 study is not known. However, multiple studies,
21 including the Cortazar meta-analysis CALGB 40603
22 and I-SPY2, have shown strong patient-level

1 association between path CR and event-free survival
2 in TNBC . Patients who achieve a path CR have
3 significantly improved long-term outcomes. Results
4 from KEYNOTE-522 show a consistent patient-level
5 association between path CR and event-free survival
6 in both the pembro and placebo arms.

7 Based on the data from KEYNOTE-522, it
8 appears that the benefit of immunotherapy in TNBC
9 goes beyond what is captured by the improvement in
10 path CR. This may be due to the mechanism of
11 action of immunotherapy and/or adjuvant exposure to
12 pembrolizumab.

13 Data from immunotherapy studies in various
14 metastatic indications have shown that the modest
15 improvements in response can be associated with
16 meaningful survival benefit. Given this
17 observation, it is likely that a modest improvement
18 in path CR could be associated with a significant
19 event-free survival benefit.

20 The figure on the left shows the KEYNOTE-522
21 IA3 event-free survival data superimposed on that
22 of CALGB 40603, which accrued patients between 2009

1 and 2012. Although CALGB 40603 was a small 4-arm
2 study, it does provide some indication about the
3 event rate over time for patients with TNBC treated
4 with neoadjuvant chemotherapy. Most event-free
5 survival events in early-stage TNBC occur within
6 the first three years, followed by a relative
7 plateau.

8 Given the strong predictive probability that
9 event-free survival benefit will be demonstrated in
10 KEYNOTE-522 when the data matures, the totality of
11 the evidence supports the spirit of accelerated
12 approval of pembrolizumab plus chemotherapy in the
13 setting of a pressing unmet need for patients with
14 high-risk, early-stage TNBC.

15 With regards to safety, I think it's
16 encouraging to see that, overall, adverse events
17 were consistent with the individual safety profiles
18 of pembrolizumab and platinum-based chemotherapy.
19 The addition of pembrolizumab to chemotherapy did
20 not increase the incidence or severity of common
21 chemotherapy-related toxicities.

22 Most immune-related adverse events occurred

1 during the neoadjuvant phase. Monitoring for an
2 early identification of immune AES is critical.
3 For example, we routinely monitor for thyroid
4 abnormalities. It's interesting that some of the
5 patients on the placebo arm have thyroid
6 abnormalities, highlighting that this is a common
7 endocrine finding. There was an increase in severe
8 skin reactions, which generally can be controlled
9 with steroids, and patients may be able to be
10 safely retreated with immunotherapy.

11 A brief mention about adrenal insufficiency
12 and hypophysitis, these toxicities are seen across
13 many different immunotherapy agents in patients
14 with breast cancer. Understanding how to identify
15 this toxicity allows effective management with
16 hydrocortisone while continuing therapy.

17 Overall, no new safety concerns were
18 identified for the use of pembrolizumab plus
19 neoadjuvant chemotherapy as neoadjuvant treatment
20 followed by pembrolizumab monotherapy and as
21 adjuvant treatment of high-risk, early-stage TNBC.

22 Now let me briefly mention the data from

1 KEYNOTE-355.

2 Can you hear me?

3 (No response.)

4 DR. RUGO: Now let me briefly mention the
5 data from KEYNOTE-355 that also supports the role
6 of immunotherapy in TNBC. KEYNOTE-355 is an
7 ongoing, randomized, phase 3 study for metastatic
8 TNBC not previously treated with chemotherapy, and
9 it demonstrated a statistically significant and
10 clinically meaningful improvement in PFS.

11 These results were the basis for accelerated
12 approval of pembrolizumab in the treatment of
13 metastatic TNBC with a CPS of 10 or greater.
14 Early-stage TNBC is immunologically distinct from
15 metastatic TNBC, which may explain why benefits in
16 pCR and EFS are seen regardless of PD-L1 positivity
17 in KEYNOTE-522.

18 Today you will be asked to consider whether
19 we should wait for more data from KEYNOTE-522 to
20 approve this regimen. I would like to tell you why
21 I think we should not wait to make the KEYNOTE-522
22 regimen available to patients with high-risk,

1 early-stage TNBC.

2 Looking at the number of new cases of
3 early-stage TNBC that are diagnosed annually in the
4 United States and modeling of available data from
5 CALGB 40603 and KEYNOTE-522 at IA3, we can estimate
6 that we would need to treat 17 to 25 patients with
7 pembrolizumab added to standard-of-care
8 chemotherapy to prevent one event-free survival
9 event.

10 Therefore, waiting for the EFS data to
11 mature could mean that approximately
12 4 to 6 percent, or 7[000] to 10,000, more U.S.
13 patients could have a recurrence of TNBC over
14 five years. This is particularly important, given
15 that patients with recurrent distant metastatic
16 TNBC have a median overall survival of only 18 to
17 24 months.

18 With these data, how would I use
19 pembrolizumab in clinical practice? I think
20 pembrolizumab, in combination with neoadjuvant
21 chemotherapy, followed by adjuvant pembrolizumab
22 monotherapy, represents a new standard of care for

1 patients who have early-stage TNBC with high-risk
2 clinical pathologic features, including stage 2 or
3 3 disease and lymph node involvement.

4 This group of patients have a high unmet
5 need with limited treatment options. Immune-
6 mediated adverse events can be successfully managed
7 in clinical practice. Increasing provider and
8 patient awareness through labeling and a medication
9 guide will enable early recognition and
10 intervention to minimize risk and enhance the
11 potential for benefit to patients.

12 Thank you for your attention, and now I will
13 turn it over to Dr. Goodman from Merck, who will
14 moderate the QA.

15 DR. GOODMAN: Thank you, Dr. Rugo.

16 DR. CHEN: Actually --

17 DR. HOFFMAN: Actually, we're not ready for
18 the QA yet, I believe.

19 DR. CHEN: Yes. Thank you.

20 DR. GOODMAN: Understood. Can I just
21 introduce myself quickly, and then go to the FDA
22 presentation? Would that be ok?

1 DR. HOFFMAN: Yes.

2 DR. CHEN: Go ahead.

3 DR. GOODMAN: So I'm Dr. Vicki Goodman, vice
4 president of clinical research and therapeutic area
5 head of late-stage oncology at Merck. This
6 concludes the sponsor presentation, and we'll look
7 forward to addressing your questions after the FDA
8 presentation.

9 DR. HOFFMAN: Thank you.

10 We will now proceed with the FDA
11 presentation.

12 **FDA Presentation - Mirat Shah**

13 DR. SHAH: Good morning. My name is Mirat
14 Shah, and I'm a medical oncologist who is the
15 clinical reviewer for this supplemental biologic
16 license application for pembrolizumab. This
17 application was submitted by Merck, who I will
18 refer to as the applicant for the rest of the
19 presentation.

20 This slide shows the members of the
21 multidisciplinary FDA review team for this
22 pembrolizumab supplemental application, and my

1 presentation reflects their collective input. The
2 applicant has proposed the following indication.
3 Pembrolizumab is indicated for the treatment of
4 patients with high-risk, early-stage,
5 triple-negative breast cancer in combination with
6 chemotherapy of neoadjuvant treatments, and then as
7 a single agent for adjuvant treatment following
8 surgery.

9 The applicant is seeking an accelerated
10 approval of the entire regimen based on
11 demonstration of improvement and pathologic
12 complete response, pCR rates, and an event-free
13 survival, or EFS result, which is immature. For an
14 accelerated approval, continued approval may be
15 contingent upon verification and description of
16 clinical benefit in confirmatory trials.

17 To support this indication, the applicant
18 submitted results from the KEYNOTE-522 study. In
19 this study, patients are randomized 2 to 1 to
20 receive either pembrolizumab or placebo in
21 combination with chemotherapy as neoadjuvant
22 treatments, and then as monotherapy for adjuvant

1 treatment following surgery.

2 This type of trial design is called an
3 add-on design because experimental treatment, in
4 this case neoadjuvant and adjuvant pembrolizumab,
5 is added to standard treatment, and this is
6 compared to standard treatment alone. The
7 co-primary endpoints were pCR rate and EFS, and
8 overall survival, or OS, was a key secondary
9 endpoint.

10 As a reminder, pCR rate was defined as the
11 proportion of patients without invasive residual
12 cancer in breast or lymph nodes at time of surgery.
13 EFS was defined as time from randomization to
14 either progression of disease that precludes
15 definitive surgery, local or distant recurrence,
16 second primary malignancy, or death due to any
17 cause. As pCR rate is measured at the time of
18 surgery, it only captures the effect of the
19 neoadjuvant portion of treatment, not the adjuvant
20 portion. In contrast, EFS and OS measure the
21 effect of neoadjuvant and adjuvant treatment.

22 These are the main KEYNOTE-522 study

1 results. At interim analysis 3 when all randomized
2 patients were included in the pCR analysis, the
3 difference in pCR rate between treatment arms was
4 7.5 percent with a 95 percent confidence interval
5 of 1.6 percent to 13.4 percent.

6 EFS and OS data were immature.
7 Additionally, pembrolizumab was associated with
8 increased immune-mediated adverse events, or AEs,
9 and the FDA considers 4 deaths as potentially due
10 to immune-mediated AEs.

11 These are the key issues with the
12 application. The key efficacy issues include that
13 neoadjuvant pembrolizumab confers only as small
14 absolute improvement in pCR rate, which has
15 questionable clinical meaningfulness. EFS and OS
16 data are immature and unreliable. The current
17 trial results do not support a role for adjuvant
18 pembrolizumab. And finally, supportive evidence of
19 clinical benefit from another treatment setting is
20 lacking. The key safety issue is that the
21 pembrolizumab regimen is associated with increased
22 toxicity from immune-mediated AEs.

1 The FDA would like to highlight some
2 portions of the regulatory history of this
3 application. The FDA met with the applicant in
4 December 2018 and discouraged application
5 submission based on pCR results at interim
6 analysis 1, as the pCR rate difference between
7 treatment arms was small and had uncertain clinical
8 meaningfulness.

9 The FDA met with the applicant in
10 September 2019 and again discouraged submission, as
11 pCR rate difference was small and EFS results were
12 immature at interim analysis 2, leading to
13 uncertainty regarding benefit. On May 8, 2020, the
14 applicant's external data monitoring committee met
15 and recommended continuing the trial without
16 change, as the EFS endpoint was not met at interim
17 analysis 3, however, on May 29, 2020, the
18 pembrolizumab application was submitted.

19 This is the outline of the presentation. I
20 will go through the key efficacy issues and key
21 safety issue of the application, summarize our
22 conclusions, and finish with the ODAC voting

1 question.

2 I will start with the key efficacy issues.
3 The first efficacy issue is that there is a small
4 absolute improvement in pCR rate which is of
5 questionable clinical meaningfulness. Before I
6 discuss the pCR results from KEYNOTE-522, I will
7 provide some context for how the FDA evaluates a
8 pCR endpoint in breast cancer.

9 The FDA published a guidance on the use of
10 the pCR endpoint to support an accelerated approval
11 for neoadjuvant treatment of high-risk, early-stage
12 breast cancer. A large difference in pCR rate
13 between treatment arms of a clinical trial may be
14 reasonably likely to predict clinical benefit;
15 however, using a pCR endpoint is challenging, as
16 there is uncertainty about its relationship to
17 clinical benefit. I will summarize some of the
18 information included in the guidance on the next
19 two slides.

20 The FDA convened an international working
21 group to assess the association between pCR and EFS
22 and OS in a pooled analysis. EFS and OS are

1 established endpoints of clinical benefit. The
2 analysis found that at the patient level,
3 individual patients experiencing a pCR, regardless
4 of treatment received, had an improvement in EFS
5 and OS compared to patients with residual disease
6 at time of surgery. However, at the clinical trial
7 level, an improvement in pCR rates in the
8 experimental arm did not necessarily translate to
9 an improvement in EFS or OS over the control arm.

10 Some trials that have shown a difference in
11 pCR rates between treatment arms have failed to
12 show a difference between arms in long-term
13 outcomes. Therefore, pCR rate is not an
14 established surrogate for EFS or OS at the clinical
15 trial level and cannot be viewed as an established
16 measure of clinical benefit.

17 If pursuing approval based on a pCR
18 endpoint, there are two trial design options, the
19 single-trial model and the multiple-trial model.
20 In the single-trial model, one neoadjuvant study is
21 powered to detect an improvement in pCR rate and in
22 EFS. The pCR results are available first, and if

1 sufficiently compelling may support an accelerated
2 approval. The EFS results are available later and
3 used to convert this to a regular approval.

4 Importantly, since this type of trial is
5 powered based on EFS, it may be overpowered for
6 pCR, which means that it may be able to detect a
7 statistically significant difference in pCR rate
8 that is too small to be clinically meaningful.

9 In the multiple-trial model, the initial
10 neoadjuvant study is powered to detect a
11 substantial improvement in pCR rate and potentially
12 support an accelerated approval. The subsequent
13 study to convert to regular approval can take place
14 in either the neoadjuvant or adjuvant setting and
15 is powered to detect either an improvement in EFS
16 or in disease-free survival, or DFS, for an
17 adjuvant study. KEYNOTE-522 followed the
18 single-trial model by assessing pCR rate and EFS in
19 the same trial.

20 In determining whether to grant accelerated
21 approval for neoadjuvant treatment based on a pCR
22 endpoint, the FDA considers the magnitude of

1 improvement in pCR rate and the acceptability of
2 the added toxicity in a group of patients with
3 potentially curable disease.

4 Additionally, compelling data of clinical
5 benefit from another treatment setting may help
6 mitigate some of the uncertainty associated with
7 the pCR endpoint.

8 To date, only one treatment, pertuzumab, has
9 received accelerated approval based on a pCR
10 endpoint. Pertuzumab is a HER2-targeted monoclonal
11 antibody indicated for neoadjuvant treatment of
12 patients with HER2-positive breast cancer. This
13 approval was based on an overall positive
14 benefit-risk assessment, which included data
15 showing overall survival benefit from the
16 metastatic setting.

17 With that background on the pCR endpoint, I
18 will now discuss the results from KEYNOTE-522. The
19 co-primary endpoint, the pCR rate, measures the
20 impact of neoadjuvant treatment only. In a
21 randomized trial, we evaluate the benefit of
22 pembrolizumab in the context of the control arm by

1 using the difference in pCR rate between the two
2 arms rather than assessing the pembrolizumab arm
3 alone.

4 This plot shows the pCR rate on the
5 pembrolizumab and chemotherapy arm in a blue bar
6 and the pCR rate on the placebo and chemotherapy
7 arm in a red bar at interim analysis 1, 2, and 3.
8 The pCR rate difference between the two arms is
9 shown above the bars at each time point, and this
10 difference gets smaller as more patients are added
11 to the analysis population.

12 We agree with the applicant that a
13 statistically significant difference in pCR rate
14 was observed at interim analysis 1, however, at
15 this time point, only approximately half of
16 patients randomized were included in the pCR
17 analysis.

18 We instead consider the clinical
19 meaningfulness of the pCR rate difference based on
20 interim analysis 3 because this time point includes
21 all 1174 patients who were randomized and is
22 therefore a more appropriate estimate of pCR rate

1 for a population of patients with triple-negative
2 breast cancer.

3 At interim analysis 3, although a high pCR
4 rate of 63 percent was observed for the
5 pembrolizumab arm, the pCR rate for the control arm
6 was 56 percent. Therefore, based on all patients
7 included in KEYNOTE-522, the difference between
8 arms is only 7.5 percent with a 95 percent
9 confidence interval of 1.6 percent to 13.4 percent.
10 There is uncertainty regarding whether the small
11 pCR rate difference is clinically meaningful and
12 will translate to a true clinical benefit based on
13 EFS or OS.

14 The second efficacy issue is that EFS and OS
15 data are immature and unreliable. The co-primary
16 endpoint, EFS, incorporates the effect of
17 neoadjuvant and adjuvant treatment. At interim
18 analysis 3, EFS did not cross the prespecified
19 efficacy boundary, and the applicant's data
20 monitoring committee recommended continuing the
21 study without change. EFS data remain immature at
22 interim analysis 3 and only 53 percent of the EFS

1 events needed for the final analysis have occurred.

2 The FDA notes that interim analyses may
3 overestimate the treatment effect, particularly
4 when the number of events is small. Although the
5 p-value is small, it has not crossed the
6 prespecified statistical boundary and does not
7 predict whether EFS will be statistically
8 significant at a later time point. There is still
9 a great deal of uncertainty associated with the EFS
10 estimate.

11 I want to further consider the EFS results
12 at IA3 and take a moment to explain why adherence
13 to the prespecified statistical plan is needed and
14 why a trial should not be declared successful
15 early, based on a p-value that appears close to the
16 boundary at one interim analysis.

17 In general, there should be a prospective
18 plan to maintain type 1 error control or control of
19 false positive findings. The overall one-sided
20 alpha of 0.025 needs to be split to account for
21 analyses of multiple endpoints like pCR and EFS and
22 also to account for multiple interim analyses of

1 the same endpoint.

2 For EFS, six interim analyses and one final
3 analysis are planned. The alpha allocated to each
4 EFS analysis is based on the actual number of
5 events that have occurred. If only a few events
6 have occurred in early looks, the alpha allocated
7 to the analysis will be smaller.

8 For these statistical methods to be valid,
9 it is important to adhere to the prospective
10 analytic plan and declare trial success at an
11 interim look only if the statistical criteria are
12 met; otherwise, there is a risk of declaring
13 success based on false positive findings.

14 A p-value that appears close to the
15 allocated alpha at one time point does not predict
16 what will happen at future time points. The
17 statistical boundary for EFS was not crossed at
18 IA3, and the applicant's data monitoring committee
19 recommended continuing the study without change.

20 The FDA and the applicant both examined the
21 predictive probability of EFS reaching statistical
22 significance in a future analysis. As seen on this

1 slide, the predicted probability of EFS achieving
2 statistical significance at the next interim
3 analysis, which is IA4, is highly variable, ranging
4 from 62 to 78 percent in the FDA's model and 32 to
5 92 percent based on the applicant's model.

6 Predictive probability models are highly
7 sensitive to modeling assumptions and become even
8 less reliable after interim analysis 4 due to
9 little available information. For this reason, the
10 FDA did not predict probability of success at
11 future analyses after IA4. This type of model is
12 not a reliable way to assess the effect of
13 neoadjuvant and adjuvant pembrolizumab on EFS,
14 and -- [inaudible - audio gap].

15 DR. SHAH: This is Mirat. My audio was
16 disconnected, and I will start at the top of this
17 slide if that's acceptable.

18 CAPT WAPLES: Yes. Yes, it is.

19 DR. SHAH: Okay. Thank you.

20 So starting with the predictive probability
21 of EFS effect, slide 19, the FDA and the applicant
22 both examined the predicted probability of EFS

1 reaching statistical significance in a future
2 analysis. As seen on the slide, the predicted
3 probability of EFS achieving statistical
4 significance at the next interim analysis, which is
5 IA4, is highly variable, ranging from 62 to
6 78 percent in the FDA's model and 32 to 92 percent
7 based on the applicant's model.

8 Predictive probability models are highly
9 sensitive to modeling assumptions and become even
10 less reliable after interim analysis 4 due to
11 little available information. For this reason, the
12 FDA did not predict probability of success at
13 future analyses after IA4.

14 This type of model is not a reliable way to
15 assess the effect of neoadjuvant and adjuvant
16 pembrolizumab on EFS and cannot replace the
17 continued follow-up which is needed for patients
18 enrolled to KEYNOTE-522. The next interim
19 analysis, IA4, will take place in summer of 2021.

20 One additional note, the FDA's regulatory
21 decisions are not only based on statistical
22 significance of an efficacy endpoint but also the

1 reliability of the interim result, clinical
2 relevance of the result, and the adequacy of data
3 with regards to other issues such as overall
4 survival and safety.

5 This plot shows EFS in patients by pCR
6 status and treatment assignment. The top two
7 curves represent patients who experience the pCR
8 and the bottom two curves represent patients who
9 have residual disease at time of surgery. This
10 type of analysis is called a responder analysis, is
11 exploratory, and cannot be used to justify that
12 patients who received pembrolizumab benefited
13 regardless of initial response to neoadjuvant
14 treatment.

15 Randomization is not preserved, so
16 differences between pCR and no-pCR population, and
17 between treatment arms within these populations,
18 may be due to differences in measured and
19 unmeasured baseline prognostic factors.
20 Additionally, the number of events is small and the
21 shaded portions of the graph representing the
22 95 percent confidence band are widely overlapping.

1 This indicates that there is a high level of
2 uncertainty regarding improvement in EFS in the
3 pembrolizumab arm for either the pCR or no-pCR
4 populations.

5 Next, I will review the key secondary
6 endpoint overall survival, which like EFS
7 incorporates the effect of neoadjuvant and adjuvant
8 treatment. As EFS did not meet its prespecified
9 threshold at IA3, OS was not formally tested.
10 Additionally, only 32 percent of events needed for
11 the final analysis have occurred. As OS data are
12 immature, the OS hazard ratio estimate is
13 unreliable.

14 The third efficacy issue is that the current
15 KEYNOTE-522 trial results do not support a role for
16 adjuvant pembrolizumab. As a reminder, this is the
17 KEYNOTE-522 trial design. The applicant is seeking
18 approval for neoadjuvant and adjuvant
19 pembrolizumab. pCR endpoint measures neoadjuvant
20 treatment effect only, whereas the EFS and OS
21 endpoints incorporate the effect of the entire
22 treatment regimen.

1 One uncertainty built into this trial design
2 is that all patients randomized to the experimental
3 arm are planned to receive neoadjuvant and adjuvant
4 pembrolizumab. Therefore, it is not possible to
5 determine the relative contribution of the
6 neoadjuvant and adjuvant portions of treatment on
7 an observed EFS or OS result.

8 Even if an improvement in EFS or OS is seen,
9 it would not be possible to determine whether both
10 portions of treatment were needed. Currently, as
11 the EFS and OS data are immature, justification for
12 the entire KEYNOTE-522 regimen, which includes
13 adjuvant treatment with pembrolizumab, is lacking.

14 The final efficacy issue is that supportive
15 data of clinical benefit from another treatment
16 setting are lacking. Earlier in the presentation,
17 I mentioned that pertuzumab for HER2-positive
18 breast cancer is the only product to receive
19 accelerated approval based on a pCR endpoint, and
20 in that benefit-risk assessment, the FDA had relied
21 on metastatic data. At the time of its neoadjuvant
22 approval, pertuzumab had demonstrated unequivocal

1 benefit in the metastatic setting with at least a
2 10-month median overall survival improvement.

3 In contrast, these are the data for
4 pembrolizumab from the metastatic triple-negative
5 breast cancer setting. KEYNOTE-119 compared
6 pembrolizumab monotherapy to physician's choice
7 chemotherapy. The primary endpoint was overall
8 survival in different tumor PD-L1 combined
9 positive-score populations.

10 Combined positive score, or CPS, is a
11 measurement of tumor PD-L1 status. To assign a
12 CPS, the number of PD-L1 staining cells, including
13 tumor cells, lymphocytes and macrophages, is
14 divided by the total number of viable tumor cells
15 and then multiplied by 100. A higher CPS indicates
16 a higher proportion of cells that express PD-L1.

17 In KEYNOTE-119, OS improvement was not
18 demonstrated in the PD-L1 CPS 10 or greater or 1 or
19 greater populations. Because the OS endpoint was
20 not met in these populations, it could not be
21 tested in all patients unselected by tumor PD-L1
22 status.

1 In KEYNOTE-355, pembrolizumab in combination
2 with physician's choice chemotherapy was compared
3 to placebo and physician's choice chemotherapy.
4 The initial primary endpoint was progression-free
5 survival, or PFS, in all patients unselected by
6 tumor PD-L1 status and PFS in those with tumor
7 PD-L1 CPS 1, or greater.

8 Following an interim analysis where the
9 primary endpoint did not cross the prespecified
10 efficacy boundary, and based on emerging data from
11 external studies, the protocol was amended to
12 assess PFS in patients with tumor PD-L1 CPS 10 or
13 greater. The PFS endpoint was only met in this
14 subgroup due to -- [inaudible - audio gap].

15 There was uncertainty regarding clinical
16 benefit even in this subgroup due to the timing of
17 the late amendment and because PFS benefit was
18 modest and OS benefit had not been demonstrated.
19 Accelerated approval was granted for patients with
20 tumor PD-L1 CPS 10 or greater, and clinical benefit
21 needs to be confirmed.

22 In summary, for pembrolizumab, there is

1 uncertainty regarding clinical benefit in the
2 metastatic setting. Potential benefit is
3 restricted to patients with tumor PD-L1 CPS 10 or
4 greater, and OS benefit has not been demonstrated.

5 Now I will return to data and results for
6 pembrolizumab from KEYNOTE-522. Because data in
7 the metastatic triple-negative breast cancer
8 setting showed increasing pembrolizumab treatment
9 effect with increasing tumor PD-L1 CPS, the FDA
10 conducted a post hoc exploratory subgroup analysis
11 to examine the relationship between pembrolizumab
12 and tumor PD-L1 in the early-stage setting in
13 KEYNOTE-522. This type of post hoc exploratory
14 subgroup analysis must be interpreted with caution.

15 With this caveat, the pembrolizumab
16 treatment effect on pCR rate was modest regardless
17 of tumor PD-L1 status, including in those with
18 tumor PD-L1 CPS 10 or greater. These results
19 suggest that there is uncertainty regarding the
20 role of tumor PD-L1 in predicting response to
21 pembrolizumab in the early setting compared to the
22 metastatic setting.

1 The efficacy conclusions are as follows. It
2 is unclear if current efficacy results are
3 reasonably likely to predict clinical benefits for
4 neoadjuvant and adjuvant pembrolizumab for
5 high-risk, early-stage, triple-negative breast
6 cancer.

7 The pCR endpoint measured neoadjuvant
8 treatment effect only, and there was a small
9 absolute improvement in pCR rate, which further
10 decreased as more patients were added to the
11 analysis population. This pCR rate difference has
12 questionable clinical meaningfulness.

13 The EFS and OS endpoints incorporate the
14 entire neoadjuvant and adjuvant treatment regimen
15 and are the only endpoints which can support the
16 adjuvant portion. EFS and OS data are immature and
17 unreliable, and therefore data are lacking to
18 support the entire neoadjuvant and adjuvant
19 pembrolizumab regimen.

20 Finally, data from the metastatic setting
21 are not supportive of clinical benefit in the
22 early-stage setting. Continued follow-up of

1 patients on KEYNOTE-522 for long-term outcomes is
2 necessary to characterize whether there is clinical
3 benefit of neoadjuvant and adjuvant pembrolizumab
4 for high-risk, early-stage, triple-negative breast
5 cancer.

6 I will now review the key safety issues for
7 this application. Pembrolizumab is associated with
8 increased immune-mediated toxicity. The FDA notes
9 that many patients with high-risk, early-stage,
10 triple-negative breast cancer will be cured with
11 standard therapy, and therefore the added toxicity
12 of pembrolizumab, and particularly side effects
13 that may be severe, irreversible, or require
14 lifelong medication, must be carefully considered.

15 Due to its mechanism of action and based on
16 prior experience, pembrolizumab may be associated
17 with a range of immune-mediated adverse events, or
18 AEs, as well as infusion reactions. Combining the
19 neoadjuvant and adjuvant phases, 43 percent of
20 patients who received pembrolizumab experienced an
21 immune-mediated AE or infusion reaction of any
22 grade, including 15 percent of patients with an

1 event that was grade 3 or greater and 10 percent of
2 patients who experienced an event requiring
3 hospitalization. Dose modification due to immune-
4 mediated AEs or infusion reactions was also more
5 common with pembrolizumab compared to placebo.

6 Again, combining the neoadjuvant and
7 adjuvant phases, the specific immune-mediated AEs
8 experienced by patients who received pembrolizumab
9 or placebo are shown in this table. The events
10 experienced most frequently by patients who
11 received pembrolizumab included infusion reaction,
12 hypothyroidism, severe skin reactions, and
13 hyperthyroidism.

14 Nineteen percent of patients who received
15 pembrolizumab experienced an immune-mediated AE
16 which was not resolved to baseline by last study
17 assessment. Most immune-mediated AEs that did not
18 resolve were endocrine related. Eleven percent of
19 patients who received pembrolizumab experienced
20 unresolved hypothyroidism; 2 percent experienced
21 unresolved adrenal insufficiency; and 2 percent
22 experienced unresolved hypophysitis. Additionally,

1 16 percent of patients started thyroid hormone
2 replacement while on study and 14 percent were
3 still on replacement at last assessment.

4 The FDA disagrees with some of the
5 applicant's patient death attributions. In
6 patients who received pembrolizumab, there were
7 4 deaths which the FDA considers potentially due to
8 immune-mediated AEs. These deaths were from
9 adrenal crisis, pneumonitis, hepatitis, and
10 autoimmune encephalitis.

11 One patient experienced adrenal crisis and
12 died from shock on post-operative day 1 following
13 her breast surgery. Her cortisol level was only 3
14 and she likely had undiagnosed adrenal
15 insufficiency at the time of surgery. Although
16 pembrolizumab is known to be associated with
17 adrenal insufficiency, undiagnosed adrenal
18 insufficiency caused by neoadjuvant treatment poses
19 an increased risk in an early-stage breast cancer
20 population where almost all patients will undergo
21 surgery.

22 One patient died from autoimmune

1 encephalitis while receiving adjuvant
2 pembrolizumab, highlighting that although most
3 toxicity occurred during the neoadjuvant portion of
4 treatment, the adjuvant portion of the treatment
5 regimen may also come with risk.

6 Since EFS and OS data are immature, the
7 adjuvant portion of pembrolizumab treatment has not
8 demonstrated a significant effect on any efficacy
9 endpoint, and the FDA looked closely at safety
10 events during this treatment phase. Although there
11 were fewer immune-mediated events than during the
12 neoadjuvant phase, there was still a small
13 increased risk of experiencing all grade
14 immune-mediated AEs, higher grade immune-mediated
15 AEs, or immune-mediated AEs leading to
16 hospitalization.

17 The FDA also examined patient-reported
18 outcomes, or PRO data, from KEYNOTE-522.
19 Limitations of PRO data to characterize the
20 experience of patients receiving pembrolizumab
21 include that the PRO data are exploratory. There
22 are no prespecified PRO hypotheses, and the PRO

1 endpoints were not statistically tested.

2 During the neoadjuvant and adjuvant period,
3 PRO assessments are infrequent, and therefore
4 insufficient to capture the symptoms, side effects,
5 and functional impairments that could be associated
6 with treatment. Because of the trial design, which
7 did not include prespecified PRO hypotheses, one
8 cannot conclude that there is no meaningful
9 difference between arms in terms of quality of
10 life, symptoms, and functioning. The FDA does not
11 agree that PRO results support a positive
12 benefit-risk assessment.

13 The safety summary is as follows.
14 Pembrolizumab increased immune-mediated toxicities
15 in a population where although patients are at
16 increased risk of recurrence, many will be cured
17 with standard therapy. Some of these immune-
18 mediated toxicities, particularly endocrine-related
19 toxicities, may be severe or irreversible, or
20 require lifelong medication in patients cured of
21 their breast cancer.

22 The adjuvant portion of the pembrolizumab

1 regimen added toxicity. Toxicities in the adjuvant
2 phase are particularly concerning because EFS and
3 OS data are immature, and this portion of the
4 regimen has not demonstrated a significant effect
5 on any efficacy endpoint and may be adding risk
6 without benefit. Finally, PRO data are not
7 supportive of a positive benefit-risk assessment.

8 I will now review our conclusions for this
9 application. In summary, it is unclear if the
10 current KEYNOTE-522 results support a favorable
11 benefit-risk assessment for the neoadjuvant and
12 adjuvant pembrolizumab regimen for patients with
13 high-risk, early-stage, triple-negative breast
14 cancer.

15 The pCR endpoint only reflects the
16 neoadjuvant portion of treatment, and the
17 improvement in pCR rate is small and of uncertain
18 clinical meaningfulness. The EFS and OS endpoints
19 reflect the entire treatment regimen. EFS and OS
20 data are immature, so there is inadequate
21 justification for the entire neoadjuvant and
22 adjuvant regimen at this time.

1 Additionally, pembrolizumab adds
2 immune-mediated toxicities, including some which
3 may be severe, irreversible, or require lifelong
4 medication in a population where many will be cured
5 of their breast cancer. There are four additional
6 interim analyses and a final analysis for EFS
7 planned. An approval decision is premature at this
8 time and further follow-up is needed.

9 The FDA will now present the voting question
10 for the advisory committee. The voting question
11 is, should a regulatory decision on pembrolizumab,
12 in combination with multi-agent chemotherapy for
13 neoadjuvant treatment, followed by pembrolizumab
14 monotherapy for adjuvant treatment of high-risk,
15 early-stage, triple-negative breast cancer, be
16 deferred until further data are available from
17 future analyses of KEYNOTE-522?

18 The FDA would like to know whether the
19 committee thinks there is evidence of benefit to
20 outweigh the risks of the pembrolizumab regimen at
21 this time or whether we should await further data
22 on long-term outcomes, including EFS and OS from

1 future analyses, before making a regulatory
2 decision. Results from the next interim analysis
3 will be available in summer of 2021. Thank you
4 very much for your attention.

5 **Clarifying Questions to Presenters**

6 DR. HOFFMAN: We will now take clarifying
7 questions for the presenters, both Merck Sharp &
8 Dohme Corporation and the FDA. Please use the
9 raised-hand icon to indicate that you have a
10 question and remember to clear the icon after you
11 have asked your question.

12 When acknowledged, please remember to state
13 your name for the record before you speak and
14 direct your question to a specific presenter if you
15 can. If you wish for a specific slide to be
16 displayed, please let us know the slide number if
17 possible. Finally, it would be helpful to
18 acknowledge the end of your question with a thank
19 you and end of your follow-up question with, "That
20 is all for my questions," so that we can move on to
21 the next panel member.

22 Dr. Armstrong?

1 (No response.)

2 DR. HOFFMAN: Unmute yourself and please go
3 ahead.

4 DR. ARMSTRONG: Thank you. Can you hear me?

5 DR. HOFFMAN: Yes.

6 DR. ARMSTRONG: Thanks.

7 I had a couple of questions about the actual
8 chemotherapy regimen, although Dr. O'Shaughnessy
9 alluded to data supporting this. The regimen of
10 carboplatin, paclitaxel, followed by anthracycline
11 and cyclophosphamide, is not a standard
12 chemotherapy regimen. For example, I don't believe
13 that's listed in NCCN guidelines.

14 Could someone from the applicant address the
15 rationale for that choice of the chemotherapy
16 regimen?

17 DR. GOODMAN: This is Vicki Goodman, vice
18 president of clinical research at Merck, and I will
19 ask Dr. O'Shaughnessy if she'd like to comment on
20 the chemotherapy regimen choice, please.

21 DR. O'SHAUGHNESSY: Yes. This is Joyce
22 O'Shaughnessy, Baylor University Medical Center.

1 It's quite clear, as I showed you in my
2 presentation, that you do improve the pathological
3 complete response rate in triple-negative breast
4 cancer with the addition of preoperative
5 carboplatin to NACT regimen.

6 What has been less clear, and is still the
7 subject of ongoing phase 3 prospective trials, is
8 whether that translates into improved disease-free
9 survival in large patient populations. There are
10 smaller studies that suggest that that is the case,
11 but we await the big phase 3 data.

12 Just this past weekend, the ASCO guidelines
13 committee came out with a paper in JCO on
14 neoadjuvant strategies for breast cancer in
15 general, and in that paper they said that their
16 opinion was that the addition of carboplatin to the
17 preoperative ACT regimen was acceptable. So I
18 think that kind of reflects what really is going on
19 in practice these days.

20 DR. ARMSTRONG: Thank you, Joyce.

21 A second question was that the standard of
22 care today for subjects with triple-negative breast

1 cancer who do not have a pathologic complete
2 response is the use of capecitabine after surgery,
3 and assuming that was not allowed, just to clarify
4 that.

5 DR. GOODMAN: Vicki Goodman, vice president
6 clinical research at Merck. The study was
7 initiated and had enrolled a substantial number of
8 patients, many of whom had reached the adjuvant
9 phase prior to the release of the CREATE-X data on
10 which that recommendation was built. We did seek
11 FDA feedback on incorporating capecitabine, and at
12 that point in the trial were discouraged from doing
13 so.

14 I would also like to ask Dr. Hope Rugo to
15 provide a clinical perspective on the role of
16 adjuvant to capecitabine.

17 DR. RUGO: Thanks very much. This is
18 actually a very interesting and important question.
19 As you know, CREATE-X was done in Japan and Korea,
20 and what we have found is that Asian patients can
21 tolerate a much higher dose of capecitabine and
22 5-FU with less toxicity compared to Caucasian

1 patients, as well as other ethnicities.

2 For CREATE-X, they used the FDA-approved
3 dose of capecitabine, which is not tolerable in our
4 patients, particularly not in the post-neoadjuvant
5 setting; and they found a benefit, as you know,
6 that the benefit was primarily driven by patients
7 who had triple-negative disease.

8 In clinical practice, we found that
9 delivering capecitabine is very difficult after
10 neoadjuvant therapy and after surgery due to
11 toxicity issues, and also that the efficacy is
12 difficult to see on a patient-level basis, where
13 patients who have a poor response to neoadjuvant
14 chemotherapy relapse either during or just after
15 their capecitabine treatment in the adjuvant
16 setting.

17 So I don't think that in clinical practice
18 anyone who's treating patients with triple-negative
19 breast cancer in this setting sees capecitabine as
20 a solution to the poor outcome of patients who have
21 high-risk, early-stage, triple-negative breast
22 cancer.

1 DR. ARMSTRONG: Thank you, Dr. Rugo.

2 Can I have you pull up slide CE-19? I just
3 wanted to just confirm the AE-related mortality.

4 In the pembro/chemo neoadjuvant phase, there
5 were 5 deaths due to AE and there were 2 deaths due
6 to AE in the adjuvant phase, which is a total of 7,
7 which by my reading is a 1 percent mortality rate
8 in the pembro/chemo arm due to AEs, and there's
9 1 out of 389 in the placebo/chemo arm.

10 Correct?

11 DR. GOODMAN: Yes. So as you say, 5 in the
12 neoadjuvant phase and 2, so that's 0.9 percent
13 versus 1 percent, keeping in mind there's a 2 to 1
14 randomization here.

15 DR. ARMSTRONG: Right, yes.

16 Question. Do you have outcomes based on
17 BRCA status in the patient population?

18 DR. GOODMAN: We do not. Only a limited
19 amount of data with respect to BRCA status is
20 available at this time, and we do not have outcomes
21 BRCAs.

22 DR. ARMSTRONG: Thank you.

1 I just had one final comment, which is the
2 patient-reported outcomes in subjects who are
3 randomized to a placebo after surgery who would not
4 normally have to come into clinic every 3 weeks is
5 a little bit -- I think one has to interpret that
6 with caution because having to come into clinic and
7 not receiving any active treatment every 3 weeks is
8 actually something that would normally be a
9 negative outcome.

10 But because both arms have to come into
11 clinic, the PROs particularly in the adjuvant
12 setting I think are not particularly reliable since
13 they don't reflect the increased requirement for
14 having to come in for treatment, since both the
15 placebo and pembro arms have to do that.

16 That's just a comment. Thank you for
17 letting me speak, and I'll be done here.

18 DR. HOFFMAN: Okay.

19 Dr. Portis, please?

20 DR. COMPAGNI PORTIS: Yes, thank you. This
21 is Natalie Compagni Portis. I have a few
22 questions.

1 One, do you have data that you can show us
2 on response differences in post- and premenopausal
3 women?

4 DR. GOODMAN: Response differences in
5 premenopausal women and postmenopausal women with
6 respect to the pathologic complete response rate?

7 DR. COMPAGNI PORTIS: Correct.

8 DR. GOODMAN: Okay. I will ask Dr. Valia
9 Karantza to address that question, please.

10 DR. KARANTZA: Yes. This is Valia Karantza.
11 I'm the clinical league for the breast program at
12 Merck. That was one of our prespecified subgroup
13 analysis, and we did not see differences in
14 premenopausal versus postmenopausal.

15 DR. COMPAGNI PORTIS: And do you see any
16 differences in response based on ethnicity,
17 especially given the fact that we know that African
18 American women are much more often diagnosed with
19 triple-negative breast cancer?

20 Do you have any data based on ethnicity?

21 DR. GOODMAN: Dr. Karantza, you can take
22 that one as well, please.

1 DR. KARANTZA: Yes. Thank you. In regards
2 to ethnicity, we do have that as a prespecified
3 analysis. There was not a prespecified analysis
4 specifically on African Americans. We did not see
5 any differences overlapping the confidence interval
6 for subgroups based on ethnicity.

7 However I would like to mention that African
8 Americans constituted only 4 percent of the total
9 patient population, which is a total of 53
10 patients. This is too small a number to make any
11 kind of analysis.

12 DR. COMPAGNI PORTIS: Yes, that makes sense.
13 Thank you.

14 Then one other question perhaps for
15 Dr. Rugo. Given what we're seeing in terms of the
16 lack of strong data, especially regarding overall
17 survival, who would you recommend this to now and
18 why?

19 DR. RUGO: Okay if I answer?

20 DR. GOODMAN: Please go ahead, Dr. Rugo.

21 DR. RUGO: . Thank you.

22 Thanks for that question. I think it's a

1 really important one. As someone who treats a lot
2 of patients with triple-negative disease in the
3 neoadjuvant and adjuvant setting, we try to treat
4 these patients in the neoadjuvant setting so that
5 we get a better idea of response and can do our
6 best to change therapy to try and improve response,
7 as we know that this is currently a critical
8 endpoint for the individual patient.

9 Based on the data that we have, as well as
10 our own data from I-SPY2, I would treat patients
11 who have node-positive more locally advanced
12 disease and not a smaller node-negative tumor. I
13 think these are the patients where we tend to see a
14 higher chance of residual disease and a worse
15 outcome in the long term.

16 Indeed, in triple-negative breast cancer, we
17 don't have a good rescue. As I mentioned about the
18 capecitabine, these patients tend to be younger and
19 have very rapidly progressive disease. So I would
20 choose to treat patients who have the highest risk
21 because we really don't have any other way to
22 salvage a poor outcome with poor response.

1 I think the survival data is important to
2 mention. As was pointed out by the FDA, it's an
3 early time point to evaluate overall survival;
4 there just aren't enough events yet, and the
5 event-free survival data is certainly compelling,
6 although still not final.

7 DR. COMPAGNI PORTIS: Thank you, Dr. Rugo.

8 DR. GOODMAN: Thank you, Dr. Rugo.

9 If I may ask Dr. O'Shaughnessy, who I also
10 would like to provide a perspective on use of this
11 in practice.

12 DR. O'SHAUGHNESSY: Thank you. Thank you.
13 I appreciate the opportunity to provide this. This
14 is very important to me; Joyce O'Shaughnessy,
15 Baylor University Medical Center, breast medical
16 oncologist.

17 I focus my clinical research efforts on
18 triple-negative breast cancer, and as a result, my
19 patient population is enriched for triple-negative
20 breast cancer. As I was reading the FDA briefing
21 document, I was glad to see where it said that
22 regulatory decisions can take into account outcomes

1 in important subgroups.

2 The clinically node-positive subgroup in
3 triple-negative breast cancer patients that are
4 going to get preoperative therapy is an extremely
5 high-risk population. They do much more poorly
6 with regard to pathological complete response rates
7 of entry and overall survival. It is a mesenchymal
8 biology that allows them to become node-positive
9 and also is associated with more drug resistance,
10 chemotherapy resistance.

11 In IA3, the pathologic complete response
12 delta in the node positive was 12 percent, and it's
13 stable because it was 13 percent in IA2. As we saw
14 from the slide that had been shown by both Hope and
15 Valia, there is a very strong patient-level
16 association between achieving a path CR and
17 event-free and overall survival.

18 In KEYNOTE-522, the patients with a path CR
19 with pembrolizumab did really remarkably well. So
20 that 12 percent delta in the node positive is
21 important, and the event free survival in the node
22 positive in IA3 is 0.69, and that's supported.

1 That positive benefit on event-free survival is
2 supported by the positive impact of pembro in other
3 very high-risk patients in KEYNOTE-522, stage 3
4 disease, those with no path CR; residual cancer
5 burden 2, which is a lot of cancer left after
6 preoperative therapy, and that was 20 percent of
7 the patients. That was the biggest group aside
8 from pathology RCB-II, a big impact on event-free
9 survival.

10 Also, a CPS PD-L1 expression less than 1,
11 that's a very poor prognosis group. They only had
12 a path CR rate with chemotherapy of 39 percent in
13 KEYNOTE-522, and the event-free survival hazard
14 ratio was 0.4 in those patients.

15 Now on the other side of the coin, the
16 serious and long-term enumerated AEs, which can
17 rarely be fatal, are just never acceptable. But in
18 my mind, the benefit-to-risk analysis greatly
19 favors pembrolizumab in the most high-risk,
20 triple-negative, which is exemplified by the
21 node-positive population. And I find this
22 12 percent delta IA3 in the node-positive to be of

1 very high clinical relevance and very clinically
2 actionable now in my practice.

3 Lastly, it's strengthened by what we've seen
4 in other checkpoint inhibitor preoperative studies
5 in triple-negative patients, where also the
6 node-positive population have had a big delta on
7 their path CR. So I think it's very important
8 right now in my practice. I really wanted to
9 emphasize this most high risk of population. Thank
10 you for allowing me to.

11 DR. GOODMAN: Thank you, Dr. O'Shaughnessy.

12 DR. COMPAGNI PORTIS: Thank you for all of
13 that. I just want to clarify, though, that's all
14 really important information, though it sounds like
15 you're talking mostly about PFS and EFS, not about
16 overall survival.

17 Is that correct?

18 DR. O'SHAUGHNESSY: Yes. I think the
19 overall survival data, in my opinion, are too early
20 at this time point to interpret. So I'm looking at
21 the path CR rate of 12 percent, which on a
22 patient-level basis, 12 percent of node-positive

1 patients, if they get a path CR, it's so compelling
2 because the event-free survival -- and I shouldn't
3 have said survival.

4 You're correct. I should not have said
5 that. I should have said event-free survival. In
6 other studies, it's overall survival, too, but in
7 KEYNOTE-522, it's event-free survival, where
8 there's a very tight relationship.

9 DR. COMPAGNI PORTIS: Thank you very much.

10 I --

11 DR. HOFFMAN: Dr. Ellis? Oh.

12 DR. COMPAGNI PORTIS: Thank you.

13 DR. HOFFMAN: Dr. Ellis, you're next.

14 (No response.)

15 DR. HOFFMAN: Okay. Let's move on to
16 Dr. Seidman then.

17 DR. SEIDMAN: Thank you. I think
18 Dr. Ellis -- oh, he just unmated, if you want to go
19 back to Dr. Ellis.

20 DR. HOFFMAN: Okay.

21 Dr. Ellis, please?

22 (No response.)

1 DR. SEIDMAN: I'm happy to jump in. This is
2 Andrew Seidman from Memorial Sloan Kettering.

3 DR. ELLIS: Yes. It's Matthew. Sorry. I
4 had connectivity problems.

5 DR. ELLIS: Okay. Go ahead, Matt.

6 DR. SEIDMAN: Yes. Deep apologies. Sorry.

7 I just wanted to push back slightly on the
8 capecitabine discussion because I don't think
9 Dr. Rugo's comments are widely accepted.

10 The current NCCN guidelines say consider
11 adjuvant capecitabine in patients who haven't had a
12 pathological complete response. And the issue of
13 dose tolerability has recently been addressed, at
14 least in part, by a second study, recently
15 published in the Journal of Clinical Oncology,
16 showing the drug may be effective at lower doses,
17 indicating if you have to dose-reduce because of
18 toxicity, you can still anticipate efficacy.

19 So my question is, given the NCCN guidelines
20 indicating capecitabine should be used in the
21 non-path CR setting, or at least considered, if
22 patients are going to receive pembrolizumab, are

1 you suggesting a delay in the capecitabine, or are
2 there data that says that capecitabine and
3 pembrolizumab are safe in combination? Because we
4 sort of have two conflicting clinical practices if
5 pembrolizumab is approved.

6 I'll stop there. Thank you.

7 DR. GOODMAN: So as you've heard, there are
8 some conflicting data for the use of capecitabine
9 in the adjuvant setting and its use in clinical
10 practice.

11 I'd like to ask if Dr. Aditya Bardia would
12 like to provide some additional clinical
13 perspective on the role of adjuvant capecitabine as
14 it relates to the use of pembrolizumab in this
15 setting, based on the 522 data.

16 DR. BARDIA: Thank you very much. I can
17 provide comments related to the use of adjuvant
18 capecitabine. As has been mentioned earlier,
19 CREATE-X trial demonstrated that the use of
20 adjuvant capecitabine is associated with
21 improvement in recurrent-free survival or event-
22 free survival as compared to no treatment, but that

1 trial was predominantly done in Japan in an Asian
2 population. The trial has not been replicated in
3 the U.S., although the use of adjuvant capecitabine
4 is listed in various guidelines, including NCCN.

5 In terms of the impact on KEYNOTE-522, as
6 was mentioned earlier, when KEYNOTE-522 was
7 designed during the execution of the study,
8 adjuvant capecitabine was discussed, but it was
9 discouraged to use adjuvant capecitabine because it
10 would further complicate the study and make
11 interpretation of both neoadjuvant pembro as well
12 as adjuvant pembro difficult.

13 So at this time, we don't have any data to
14 suggest that capecitabine after pembro or with
15 pembro, how that would impact the event-free
16 survival.

17 We do have safety data, though, and it's
18 predominantly in the metastatic setting, where the
19 combination of capecitabine plus pembrolizumab is
20 safe. So at least from a safety perspective,
21 there's no concern with the combination of
22 capecitabine along with pembro.

1 DR. HOFFMAN: Okay.

2 DR. GOODMAN: Sorry. I was on mute.

3 Thank you, Dr. Bardia.

4 DR. HOFFMAN: Does that wrap up your
5 questions, Dr. Ellis, at the moment?

6 DR. ELLIS: Well, I just want to emphasize
7 in this area of uncertainty with both drugs, I
8 think we have a result with a drug that's
9 replicated in several clinical trials, although
10 acceptance not in a European-American population;
11 then we have a second drug with uncertainty as to
12 its long-term efficacy.

13 So there's a lot of uncertainty right now as
14 to what the clinical practice should be, and I'll
15 stop there.

16 DR. GOODMAN: I believe Dr. Rugo would like
17 to make a couple additional comments on this issue
18 before we close.

19 DR. RUGO: I just wanted to comment back
20 about the recent trial -- the Chinese trial, I
21 believe is what Dr. Ellis is referring to -- where
22 patients received continuous dosing of capecitabine

1 with early-stage, high-risk, triple-negative breast
2 cancer treated in the adjuvant setting.

3 I think that we were all very impressed when
4 that data was presented, and now it's been
5 published, and thought this might be a way to get
6 around some of the toxicity issues that we see in
7 Caucasian patients, and I have to say some other
8 ethnic groups in the post-neoadjuvant setting.

9 Having now tried that regimen with several
10 patients, I would argue that we have the same
11 problem. I have not had a single patient tolerate
12 the regimen. In fact, the toxicity is greater than
13 I have ever seen with the lower dose that we've
14 used trying to replicate CREATE-X.

15 We use a dose that is the sort of Caucasian
16 dose for capecitabine, which is not the same as was
17 used in CREATE-X, and by trying to give a lower
18 dose continuously, it just has been really
19 undoable. So I think it is quite fascinating data
20 that was in a Chinese population, and although it
21 hasn't been studied well, there may again be very
22 significant differences in metabolism of 5-FU.

1 DR. ELLIS: Well, it's Dr. Ellis. I have to
2 respond to that. Your anecdote through clinical
3 experiences is highly relevant here, and I would
4 say I have the contrary view that it's a tolerable
5 regimen. So I'm not sure there's agreement on that
6 point.

7 DR. HOFFMAN: Okay. I think we probably
8 don't want to get too far into the granularity of
9 the specifics of the capecitabine, but I know that
10 it's relevant in the bigger picture here.

11 Let's move on to Dr. Seidman.

12 DR. SEIDMAN: Thank you. This is Andrew
13 Seidman from Memorial Sloan Kettering. First, I
14 just want to thank the FDA and the applicant for
15 what were really clear and concise presentations.
16 Actually, I have three questions.

17 The first is for the applicant, and it
18 relates to the extent of disease evaluation
19 performed in patients with clinical stage 3,
20 triple-negative breast cancer. Forgive me if I
21 missed it, but was this protocol stipulated, and
22 what actually happened in terms of ruling out

1 occult metastatic disease?

2 DR. GOODMAN: So you're asking about the
3 evaluation of disease at baseline in patients with
4 stage 3 breast cancer; is that correct?

5 DR. ELLIS: Yes, node positive perhaps, or
6 certainly stage 3, clinical stage 3 patients who we
7 know have the certain likelihood of having occult
8 stage 4 disease. Were they required to have
9 certain types of imaging before entering the trial
10 to rule out metastatic disease?

11 DR. GOODMAN: Sure. I'll ask Dr. Karantza
12 to address that, please.

13 DR. KARANTZA: Yes. This is Valia Karantza,
14 clinical lead for the breast program at Merck. We
15 did not require mandatory baseline scans. What we
16 did is we followed clinical practice and guidelines
17 per NCCN and other oncology groups. Actually, it
18 is the clinician's discretion to perform such
19 screening.

20 DR. SEIDMAN: Thank you, and just one quick
21 follow-up question.

22 Do you happen to have data on whether there

1 was balance between the two arms and whether
2 patients were assessed for metastatic disease?

3 DR. GOODMAN: Dr. Karantza?

4 DR. KARANTZA: I cannot answer your question
5 right now. We have been collecting, wherever it
6 was performed, baseline stage in CAT scans, but
7 this has been collected and held, so we have not
8 evaluated them ourselves.

9 DR. SEIDMAN: Thank you.

10 My next question is also for the applicant,
11 and it relates to event-free survival definition.
12 I'm wondering if the applicant could comment on the
13 positive margin at the time of surgery as an event,
14 what this means in terms of downstream events, and
15 whether there's a precedent for including that as
16 an event.

17 DR. GOODMAN: Right. As you note, we did
18 include margins at the time of last surgery as EFS
19 events. Again, I'll ask Dr. Karantza to comment on
20 why that was included in the implications and
21 perhaps also to share a sensitivity analysis that
22 we did where we excluded those events.

1 DR. KARANTZA: Yes. As mentioned by us and
2 as acknowledged also by FDA, there is not a
3 universal EFS event definition. What we did
4 actually is we took the most conservative approach,
5 as positive margins at surgery are associated with
6 an increased risk for local recurrence and
7 eventually distant recurrence.

8 The way we defined positive margins was,
9 again, the most conservative, it was tumor, I
10 think, or in-tumor [ph], which essentially meant
11 that there was likely tumor cells left behind after
12 surgery. What we have done is we did a sensitivity
13 analysis where we excluded -- we did the EFS
14 analysis where we excluded positive margins. Slide
15 up, please.

16 This is our sensitivity analysis, and this
17 has a hazard ratio of 0.68 with confidence
18 intervals of 0.5 to 0.92. In this occasion, rather
19 than having a positive margin as an initial adverse
20 event, if these patients developed any adverse
21 event, a later event of a local or distant
22 recurrence, but the one was counted.

1 I would like to mention that we had a total
2 of 16 patients with positive margins at surgery,
3 and half of them within the IA3 time follow-up had
4 already distant recurrence and one had the local
5 recurrence. So there is a very high incidence.

6 DR. SEIDMAN: Thank you. You've answered
7 that very well.

8 My final question -- and I guess I would
9 also invite Dr. Berry maybe to weigh in on
10 this -- relates back to the foundation chemotherapy
11 regimen. We had discussed earlier about some
12 heterogeneity in real-world clinical practice
13 regarding the incorporation of carboplatin.

14 I would also submit that there's
15 heterogeneity with respect to the schedule of
16 anthracycline. Dr. Berry authored a paper many
17 years ago showing the benefit of dose-dense
18 adjuvant therapy with anthracyclines in the
19 adjuvant setting.

20 Recognizing it would be challenging to marry
21 a q3-week antibody with q2-week chemotherapy, I'm
22 just wondering if the applicant or Dr. Berry would

1 want to weigh in on the issue of optimization of
2 chemotherapy, not with respect to carboplatin, but
3 anthracycline schedule.

4 DR. GOODMAN: As you note, dose-dense
5 anthracyclines were not provided as an option due
6 to the additional toxicity and the inconsistency of
7 use between the regimens.

8 I will also ask, since you brought up
9 Dr. Berry's publication, if he would like to
10 comment further on that question.

11 DR. BERRY: Thanks. I'm Donald Berry,
12 consultant for Merck through a contract between
13 Merck and Berry Consultants, a company I co-own.
14 Neither Berry Consultants nor I have a financial
15 interest in the meeting outcome.

16 Thanks for the question. The only thing I
17 can add is that this was done in both groups, so
18 presumably it would even out. But I don't have
19 anything further to add.

20 DR. GOODMAN: Thank you, Dr. Berry.

21 Perhaps I can ask for a more clinical
22 perspective on the choice of chemotherapy regimens

1 from Dr. O'Shaughnessy.

2 DR. O'SHAUGHNESSY: I'll just provide a
3 perspective on the question that Andy asked, and
4 that is that the Oxford overview meta-analysis has
5 clearly shown that a dose-dense regimen in the
6 breast cancer curative setting is superior to a
7 non dose-dense regimen. So it's become the
8 standard of care to use AC pretty much every
9 2 weeks, and this KEYNOTE-522 uses it every
10 3 weeks.

11 We had a lot of discussion about that in our
12 U.S. oncology breast committee. There was
13 consternation around that. We looked carefully at
14 the Oxford overview analysis, and they looked at
15 regimens overall. They didn't break down the
16 anthracycline portion of it versus the taxane
17 portion of it. It was just overall.

18 The trial that is there that can help us in
19 this regard is the TAC-2 trial from the UK or the
20 2 by 2 factorial design. One of the randomizations
21 in the early-stage breast cancer HER2 negative was
22 an every 3-weekly versus an every 2-weekly

1 EC regimen, with a subsequent randomization on
2 another question, and there was no difference in
3 the outcome for the patient for q3 and q2.

4 So I personally felt comfortable with the
5 every 3 weekly, but Andy's point is well-taken that
6 the dose-dense regimen is the standard of care
7 across the country.

8 DR. SEIDMAN: Thank you, Joyce.

9 I have no other clarifying questions. Thank
10 you for the time.

11 DR. HOFFMAN: Okay. Why don't we take a
12 break at this point, and we'll have an opportunity
13 for more clarifying questions after the open public
14 hearing portion. Let's take a break now and resume
15 at 12:45, please. Thank you.

16 (Whereupon, at 12:28 p.m., a recess was
17 taken.)

18 **Open Public Hearing**

19 DR. HOFFMAN: We will now begin the open
20 public hearing session

21 Both the FDA and the public believe in a
22 transparent process for information gathering and

1 decision making. To ensure such transparency at
2 the open public hearing session of the advisory
3 committee meeting, FDA believes that it is
4 important to understand the context of an
5 individual's presentation.

6 For this reason, FDA encourages you, the
7 open public hearing speaker, at the beginning of
8 your written or oral statement to advise the
9 committee of any financial relationship that you
10 may have with the sponsor, its product, and if
11 known, its direct competitors.

12 For example, this financial information may
13 include the sponsor's payment of your travel,
14 lodging, or other expenses in connection with your
15 participation in the meeting. Likewise, FDA
16 encourages you at the beginning of your statement
17 to advise the committee if you do not have any such
18 financial relationships.

19 If you choose not to address this issue of
20 financial relationships at the beginning of your
21 statement, it will not preclude you from speaking.
22 The FDA and this committee place great importance

1 in the open public hearing process. The insights
2 and comments provided can help the agency and this
3 committee in their consideration of the issues
4 before them.

5 That said, in many instances and for many
6 topics, there will be a variety of opinions. One
7 of our goals for today is for this open public
8 hearing to be conducted in a fair and open way
9 where every participant is listened to carefully
10 and treated with dignity, courtesy, and respect.
11 Therefore, please speak only when recognized by the
12 chairperson. Thank you for your cooperation.

13 Speaker number 1, your audio is connected
14 now. Will speaker number 1 begin and introduce
15 yourself? Please state your name and any
16 organization you're representing for the record.

17 MS. DINERMAN: Good afternoon. My name is
18 Haley Dinerman, and I'm the executive director of
19 the Triple Negative Breast Cancer Foundation. I
20 thank you for the opportunity to speak here today
21 about the unmet medical need for therapies in
22 high-risk, early-stage, triple-negative breast

1 cancer.

2 Please note that I've not been compensated
3 in any way for my remarks. I'm here as an advocate
4 who works closely with TNBC patients and their
5 families, and I hope to give a voice to a community
6 of breast cancer patients that is often overlooked.

7 I co-founded the Triple Negative Breast
8 Cancer Foundation in 2006 when my friend Nancy was
9 battling triple-negative disease. At the time,
10 very little research was being done in this area,
11 and there were very few places to turn for guidance
12 and support. Fortunately, that is no longer the
13 case.

14 Since its founding, the TNBC Foundation has
15 grown to be the leading advocacy group for the
16 triple-negative community. We fund TNBC specific
17 research, we offer resources and support to TNBC
18 patients and their families, and we work to make
19 sure that the unique needs of the TNBC community
20 are understood and considered, which is why I
21 appreciate the opportunity to lend my perspective
22 to this discussion.

1 I've seen firsthand how devastating this
2 disease can be. Despite giving it everything she
3 had, Nancy died of TNBC at just 37 years old. She
4 left behind a close-knit family and many friends
5 who struggle with her loss to this day.

6 Nancy was the first of many devastating
7 losses I witnessed. Through many years of work
8 with the TNBC Foundation, I developed close
9 relationships with the community I serve. I've
10 made many friends over the years who've lost their
11 lives to this horrible disease.

12 My friends Fern Dixon and Annie Goodman are
13 two such examples. Fern was 43 years old when she
14 died and Annie was just 33. Another friend was
15 Lori Redmer. She was the TNBC Foundation's former
16 executive director. Despite having every possible
17 connection and resources at her disposal, Lori was
18 also unable to fight off this disease. She died in
19 her early 40s as well, leaving behind a husband and
20 three young daughters.

21 I want you to see their faces and the faces
22 of these friends that I lost too soon. I want them

1 to be in this virtual room with us today. These
2 women were all in the prime of their lives, and
3 they deserved better.

4 These are the faces of the women we serve.
5 They're strong, they're fighters, they're willing
6 to do what it takes to battle this beast, but they
7 need options, especially for high-risk, early-stage
8 disease.

9 The TNBC Foundation hears regularly from
10 thousands of triple-negative patients. Our online
11 discussion forums have nearly 10,000 registered
12 users. Our official private Facebook group has
13 9800 active members. We host regular TNBC
14 Community Zooms, and we engage with patients and
15 hear from them directly.

16 As an organization, we have our ears to the
17 ground and we know this patient population. We
18 hear their fears and understand their desperate
19 need for more treatment options. For those
20 diagnosed with high-risk, early-stage disease,
21 there are no targeted therapies.

22 These women and their families would give

1 anything for more choices. They tell us how
2 demoralizing it is for them to attend non-TNBC
3 specific support groups, or to sit in, in their
4 doctors offices or chemo units, where they hear
5 about the treatment options available to other
6 breast cancer patients, but not to them.

7 While fortunately the treatment landscape is
8 developing for women with metastatic TNBC, for the
9 early-stage patient, there are incredibly limited
10 options. When a therapeutic option presents
11 itself, it should be available for consideration in
12 the clinical setting. Patients in consultation
13 with their doctors should have the option to
14 choose.

15 The TNBC community is disadvantaged enough
16 compared to other breast cancer patient groups,
17 given that many of the greatest breakthroughs in
18 breast cancer treatment do not apply to them.
19 Within the overall TNBC patient population, there
20 are certain defining characteristics.

21 Triple-negative breast cancer often strikes
22 younger women, women of BRCA gene mutations, women

1 of Ashkenazi Jewish descent, and women of African
2 American descent. The data relating to black women
3 with TNBC is especially concerning. Black women
4 are 2.3 times as likely to be diagnosed with TNBC
5 and far more likely to die of this disease, which
6 is clearly unacceptable.

7 As TNBC patients are more commonly in the
8 prime of their life when diagnosed, I could argue
9 that based on expected lifespan, triple negative is
10 the most costly breast cancer in terms of
11 unrealized years of life, given the typical young
12 age of onset and the all too frequent early death.

13 Patients with high-risk, early-stage TNBC
14 should have the option, together with their medical
15 team, to consider a therapy that might prevent
16 their cancer from advancing. I'm sure you agree
17 that these patients deserve to have as many weapons
18 in their arsenal as we can safely offer them.

19 I want to thank you for taking the time to
20 listen. I hope I was able to give you some insight
21 into the very real unmet need faced by patients in
22 our TNBC community. We desperately need more

1 therapeutic options, especially in the high-risk,
2 early-stage setting. Hopefully today we'll be
3 closer to having one. Thank you in advance for
4 your consideration.

5 DR. HOFFMAN: Thank you.

6 Speaker number 2, your audio is connected
7 now. Will speaker number 2 begin and introduce
8 yourself? Please state your name and any
9 organization you are representing for the record.

10 MS. FAIRLEY: My name is Ricki Fairley, and
11 I'm the founder and CEO of TOUCH, The Black Breast
12 Cancer Alliance. Thank you so much for the
13 opportunity to speak today about meeting an unmet
14 medical need for early-stage TNBC. I'm very
15 blessed to be approaching 10 years of survivorship
16 of TNBC. I'm not being compensated for giving my
17 remarks today, and our foundation has not received
18 any grants from Merck.

19 When I researched TNBC after my diagnosis in
20 2011, I found only bleak news. As I'm sure you
21 know, TNBC is associated with the worse prognosis
22 and low overall survival rate, and there are

1 currently no treatment options for early-stage
2 TNBC. TNBC patients are fighting in a war with
3 absolutely no weapons.

4 I was given a death sentence with no hope.
5 For my stage 3A TNBC, I had a bilateral mastectomy,
6 6 rounds of TAC chemo, and 6 weeks of radiation.
7 And a year to the day of my diagnosis, a PET scan
8 identified 5 spots on my chest wall. My oncologist
9 told me that I was metastatic and to get my affairs
10 in order because I had two years to live. He had
11 only seen two cases of TNBC, and both patients died
12 within nine months.

13 I was not ready to die, so I took matters
14 into my own hands. I reached out to the TNBC
15 Foundation, who directed me to Dr. Ruth O'Regan at
16 Emory. Dr. O'Regan suggested a regimen of
17 carboplatin and Gemzar. After 4 rounds of that
18 treatment, I miraculously had no evidence of
19 disease.

20 Obviously, God had another plan for me and
21 gave me my purpose. I know that God left me here
22 to do this work and help my breastees get through

1 breast cancer. I've been an advocate ever since I
2 was sick, and I fight like a girl every day to
3 eradicate this disease that disproportionately
4 affects women who look like me.

5 TNBC is a different disease. Because TNBC
6 is the only breast cancer that doesn't have a drug
7 to prevent recurrence, we fight a different fight.
8 As I started pursuing the data and engaging in the
9 breast cancer advocacy community, I could see the
10 impact on black women. There were no national
11 studies about TNBC in black women until just a few
12 years ago when Dr. Lia Scott at the University of
13 Georgia conducted the first one, indicating that
14 black women are 2.3 times more likely to be
15 diagnosed with TNBC.

16 That was the foundation of my pursuit to
17 study and label black breast cancer. The
18 prevalence of TNBC in our community is a large
19 contributor to the devastating statistics for black
20 women and breast cancer. Let me break down for you
21 what black women are facing.

22 Black women have a 31 percent breast cancer

1 mortality rate, the highest of any U.S. racial or
2 ethnic group. Black women are 42 percent more
3 likely to die of breast cancer. Black women under
4 the age of 35 get breast cancer at twice the rate
5 of white women and die at 3 times the rate.
6 Twenty-one percent of black women with breast
7 cancer don't survive 5 years past their diagnosis
8 compared to 8 percent of white women. Black breast
9 cancer survivors have a 39 percent recurrence rate,
10 higher than white women.

11 The physiology of black women has not been a
12 high consideration in clinical trial research, and
13 on January 21st of 2021, JAMA Oncology published an
14 article stating that the risk of death for black
15 women with breast cancer is 71 percent higher than
16 for white women. These statistics are just
17 unacceptable. Black women deserve better.

18 I founded TOUCH, The Black Breast Cancer
19 Alliance, to bring attention to the science and to
20 bring the health of black women into breast cancer
21 research conversations. My purpose, passion, and
22 mission is to eradicate black breast cancer. At

1 TOUCH, we are working to ensure that the medical
2 research community uses culturally competent
3 language and behavior to recruit black women into
4 clinical trial research. This is an uphill battle,
5 given that all the work to date, despite great
6 efforts and a lot of money, have only managed to
7 garner a less than 5 percent participation rate by
8 black women in clinical trials.

9 As you look at the faces of my TNBC
10 breastees, know that a drug like Keytruda could
11 have stopped their cancer from advancing and
12 possibly given the dead ones a better outcome. I'm
13 ecstatic to have Keytruda as a potential new
14 therapy for early-stage TNBC. There are currently
15 no treatment options in our space. This is a much
16 needed first, our first and only weapon in a
17 horrific war.

18 Though the trial results are highly
19 favorable, as breast cancer patients we are always
20 in a trial. We never know for certain that a
21 treatment will work for us. I greatly appreciate
22 the work that you do, but the way I see it is that

1 you have the power to bring hope over fear, years
2 of life over months of life, a chance to see a
3 child grow up, a chance to meet a new grandbaby. I
4 don't even want to think about how many women will
5 get advanced disease, and even die while waiting
6 for this life-saving therapy. Think about how you
7 would feel if one of your family members were at
8 risk.

9 In addition to saving lives, you can bring
10 real and genuine hope to a very bad situation. You
11 have the power to change the course of TNBC and
12 impact many lives, and the time is of essence.

13 I have two brilliant daughters, two perfect
14 granddaughters, and a third grandbaby due in March.
15 The risk of death if any one of them were to get
16 breast cancer is 71 percent higher than for white
17 women. As a mom and a grandma, I'm incapable of
18 accepting that. They are my daily inspiration, and
19 I have about 10 years before Belle [ph], my oldest
20 granddaughter, has breasts. My goal is to put
21 myself out of a job by then.

22 I appreciate your time and this opportunity

1 to share my story. Frankly, Keytruda for
2 early-stage TNBC cannot get to the market soon
3 enough. Thank you in advance for helping me reach
4 my goal for Belle, the other women in my family,
5 and the black breast cancer community.

6 DR. HOFFMAN: Thank you.

7 Speaker number 3, your audio is connected
8 now. Will speaker number 3 begin and introduce
9 yourself? Please state your name and any
10 organization you're representing for the record.

11 MS. KARMO: My name is Maimah Karmo. I'm
12 with the Tigerlily Foundation. I have not been
13 paid for these remarks. I share my story today in
14 honor of my friend who are black women who are not
15 with us today.

16 In just the past two months, we lost
17 Chawnte in December, Nani [ph] on last Sunday, and
18 Sarah just four days later, all leaving behind
19 children, husbands, and friends. Before Nani died,
20 she called me in tears, struggling for breath. She
21 was not ready to go, but she died 3 days later.
22 They won't see their kids grow up, get married, or

1 live their lives.

2 After I heard the words, "You have breast
3 cancer," I was terrified. Black women have worse
4 outcomes who look like me. The outcomes are grim.
5 I was given AC and Taxol and told to go live my
6 life, yet, every month, somebody I know dies and
7 suffers. Treatments feel as time, and time again.
8 Imagine this. You're being attacked by unseen
9 gunmen, yet you stand there defenseless. This is
10 what my friends and I feel like every day.

11 As a patient, I fought in the face of fear
12 of cancer cells that may someday attack my body
13 again. As I watch my friends suffer and die, I
14 wonder how many more will lose their lives or when
15 it will be my turn to die.

16 While I understand your concerns about this
17 new drug, all we want is more time with loved ones.
18 Our lives are not about charts, graphs, or data.
19 The sooner you attack cancer, we have a better
20 chance at outcomes and at life. No amount of time
21 or life is too small to save or too small to live,
22 and we deserve better, and we deserve more. We

1 need better treatment options now because our lives
2 cannot wait. Thank you.

3 DR. HOFFMAN: Speaker number 4, your audio
4 is connected now. Will speaker number 4 begin and
5 introduce yourself? Please state your name and any
6 organization you're representing for the record.

7 MS. PERELLO: Hello. My name is Kristen
8 Costa Perello. I do not have an affiliation with
9 any organization. In opening, I'd like to state
10 that I am not receiving compensation to share my
11 experience here today, and I also do not know
12 whether I actually received pembro as part of the
13 clinical trial; and from what I understand, I will
14 never know that. I'd like to think that I did
15 based on the positive experience I had during
16 chemo, and now I will share my story.

17 I was diagnosed with stage 2 invasive ductal
18 carcinoma TNBC cancer in August 2017 at the young
19 age of 35. I underwent 16 rounds of chemotherapy
20 treatment starting in September 2017, including the
21 clinical trial for pembro. I had a double
22 mastectomy with reconstruction the very same day in

1 March of 2018 and received radiation therapy ending
2 in June of 2018.

3 I'm so happy to say I have had no evidence
4 of the disease since June of 2018 at the conclusion
5 of radiation. I saved my hair during chemo with
6 the use of cold-capping therapy, and dressed up,
7 and wore high heels to every treatment. My motto
8 was, "High heels and high spirit," as you can see
9 on the slides here. I'll explain the slides at the
10 end. I'm still so proud of what that motto means,
11 and I will always cherish that.

12 I did a couple of local news segments to
13 share my story of this motto and how well I did
14 during treatment through local attention. I was
15 connected to Dr. Joyce O'Shaughnessy through my
16 breast surgeon in Fort Worth, Anita Chow.
17 Dr. O'Shaughnessy is known to attend a lot of
18 speaking events and be involved in many clinical
19 research projects to help breast cancer patients,
20 and I will never forget that first appointment I
21 had with her.

22 She made the time for me before one of her

1 travels and saw me for an appointment at 6:30 p.m.
2 in Dallas. I lived in Fort Worth at the time. It
3 was then she told me about the clinical trial she
4 had happening for pembro and drew up a plan for my
5 treatment. I read through the trial documents and
6 took my time. I signed up at my next appointment.
7 I immediately trusted Dr. O'Shaughnessy. I thought
8 to myself, "Why wouldn't I want to sign up for
9 something that could potentially give me better
10 success with my treatment outcome?"

11 I started on the clinical trial day 1 of
12 chemo in September of 2017. I had a complete
13 response to chemo after my third of 16 treatments,
14 and my lump could no longer be felt. Throughout my
15 chemo treatments and until the clinical trial ended
16 for me in December of 2018, I had honestly never
17 felt so good in my life. I felt better on chemo
18 than off of it. I often tell people that. They
19 think it's amazing and a little crazy. And really,
20 looking back, so do I.

21 Of course the strict diet I implemented was
22 a huge proponent of this. I rang the bell and

1 finished chemo in February of 2018 and ran a 5K the
2 same week. I then rang the bell again in December
3 of 2018 with my new boyfriend and sister by my
4 side, and that was the last day that I received the
5 pembro or placebo injection.

6 I felt good enough to be in the gym
7 throughout chemo about 3 days each week, even on
8 the harshest treatment of all, the "red devil." I
9 will be forever grateful to my support system and
10 what I like to call my dream team of doctors, with
11 Dr. O'Shaughnessy at the forefront of that.

12 In talking with other women in a local
13 breast cancer group that I am still a part of,
14 their doctors weren't always as aggressive with
15 treatment. I know everyone has different cancer
16 profiles, but I'm glad and lucky that mine fit the
17 profile so I could partake in the trial.

18 It truly takes a village to get through the
19 emotional roller coaster in the dark times a cancer
20 diagnosis presents, as well as financial burden.
21 Only those who have been diagnosed understand what
22 it's like to hear those words, and follow, and go

1 through that journey. Even then, everyone has
2 different journeys and different hard parts. My
3 hardest part was radiation and the wounds that came
4 along with those treatments.

5 While I do not know, as I stated before,
6 whether I received pembro, and likely never will, I
7 like to believe that I did because I felt so
8 incredibly good during chemo and never had
9 sickness; only a few days during the red devil
10 treatments where I went to bed earlier than I
11 normally would.

12 Now I'm living in Seattle. I just moved
13 here last week. I've married the love of my life,
14 who I met at the tail end of treatment, and we just
15 had a beautiful baby girl, and she's very healthy.
16 She was born in early November. Her name is Ava.
17 I was able to get pregnant naturally right after
18 coming off of the IUD, although I did freeze my
19 eggs before treatment started due to the unknown of
20 whether I'd be able to conceive. With what my body
21 has been through, I thought I could potentially
22 have a long fertility road ahead of me. My husband

1 and I hope to have another child within a couple of
2 years.

3 I love to travel, pre-COVID of course, cook,
4 hike, work out, visit with family and friends, and
5 live my best and healthiest life every day. And I
6 truly believe that my treatment path has helped me
7 get to where I am now and helps me live a
8 prosperous life every day. I feel like I've been
9 given a second chance.

10 I maintain a full-time job, which I'll
11 return to in April once maternity leave ends. I
12 often like to remind women and men to check
13 themselves and listen to their bodies and any signs
14 of changes. I also like to remind people to be
15 advocates for their health and ask a lot of
16 questions.

17 I'm proud to say I'm still connected to my
18 breast cancer groups and help other women advocate
19 for themselves and their treatment tasks. Please
20 help us get this drug approved. I think we can all
21 agree that it's important for high-risk, TNBC
22 breast cancer patients to have more treatment

1 options, and pembro offers that. Thank you for
2 listening to my story.

3 For the pictures, I have explained. A lot
4 of them were during treatment. The first slide
5 shows when I rang the bell in my last days of
6 treatment, as well as when I ran the 5K.

7 Next slide, and this is my last slide. This
8 is my life now with my beautiful daughter Ava and
9 my husband, and living a healthy and prosperous
10 life. Thank you for listening.

11 DR. HOFFMAN: Thank you.

12 Speaker number 5, your audio is connected
13 now. Will speaker number 5 begin and introduce
14 yourself? Please state your name and any
15 organization you're representing for the record.

16 MS. BRYANT: Hello. My name is Jillian
17 Bryant. I wanted to start by saying that I have
18 not received any financial compensation for my
19 participation today.

20 It is my great honor and privilege to speak
21 to you, and I thank you in advance for listening to
22 my story. I live in Lake Stevens, Washington, just

1 north of Seattle, and in August of 2018 at the age
2 of 39, and after only one year of marriage, while
3 trying for our first baby together, I lay there in
4 bed with a deep ache on my left side, and that is
5 when I found the lump that ironically was 4 years
6 almost to the day that I had lost my older sister
7 at the same young age of 39 from esophageal cancer.

8 As you can imagine, our lives were forever
9 changed, and now I was facing the same ugly disease
10 in a different place within my body, and afraid
11 just does not begin to describe my horror.

12 Somehow, I had to seek a way not to follow in my
13 sister's footsteps.

14 I was diagnosed with stage 2 invasive ductal
15 carcinoma. But that was not all. It was triple
16 negative, and as you know, triple negative does not
17 have the many options that hormone-positive cancers
18 do. Therefore, this rare aggressive diagnosis
19 leaves those that hear those words with an
20 unexplainable fear and in a constant state of
21 anxiety.

22 Because Keytruda is unfortunately not yet

1 standard protocol for early TNBC, I was extremely
2 fortunate that my Seattle oncologist was
3 cutting edge and knowledgeable to ensure that I had
4 this drug as an option, not within a trial, but the
5 actual Keytruda drug. I was fighting for my life
6 and I needed to ensure we were doing everything we
7 possibly could to combat this aggressive diagnosis.

8 I strongly believe that every weapon in the
9 oncologist arsenal should be offered to qualifying
10 breast cancer patients. My oncologist got an early
11 start on the process. My medical insurance denied
12 Keytruda. We appealed the paperwork, and Merck
13 approved me on the Patient Assistance program.

14 I received 4 Adriamycin/Cytoxan and
15 12 Taxol/carboplatin chemotherapies, and similar to
16 a clinical trial regimen, we added the Keytruda
17 after the AC when I began the TC chemo treatments.
18 I received Keytruda every 3 weeks for 12 months.
19 After chemo, I had a lumpectomy, followed by a
20 bilateral mastopexy, and my surgery result was
21 great. I had clear margins and no lymph node
22 involvement.

1 We were thrilled. I went on to do radiation
2 and continued Keytruda for the 12-month duration,
3 and I had no side effects from Keytruda. And I
4 should note that I worked the entire time during my
5 treatment, as I'm the sole provider for our family,
6 and working equals my medical insurance. But to be
7 honest, we would have lived in a cardboard box and
8 sold everything that we own to get Keytruda as part
9 of my regimen. But gratefully, because of my
10 oncologist and the Merck Patient Assistance
11 Program, it made it possible for me to receive this
12 immune therapy with the most beautiful outcome: my
13 health and my future.

14 Keytruda literally was the key to my
15 survival and to accompany the chemotherapy regimen
16 in my treatment. And along the way, I met many
17 other breast cancer patients, most of which were
18 hormone positive, and they had so many more options
19 in their arsenal such as tamoxifen or Herceptin.
20 But in my mind, Keytruda is the equivalent to that,
21 and all TNBC patients should not have to hear, "Oh,
22 that's not protocol," or "Denied," from their

1 insurance company, or worse, to not even have it be
2 brought up by their oncology team as an option.

3 It is my hope and prayer that every
4 candidate for Keytruda has the opportunity to
5 receive this medicine to truly change the
6 trajectory of their prognosis. But they don't need
7 to fight for their life and fight for the
8 medication and their insurance company.

9 It is my hope that the oncologists and the
10 decision-makers on this call today hear these words
11 and think of Keytruda whenever it could favorably
12 impact a patient with this diagnosis, for you to
13 hear from someone who directly benefited from the
14 Keytruda drug. But I'm endlessly and eternally
15 grateful from the bottom of my heart for this
16 life-saving drug.

17 I'm happy to report that I am 43 years old
18 now. I'm doing very well. I'm working full-time
19 and so thrilled to say that I'm 12 weeks pregnant.
20 When I was diagnosed in 2018, I asked myself,
21 "How?" "Why?" "Why is this happening to me?"
22 "How could this happen to our family a second

1 time?" And today, at this moment, speaking for the
2 people who will decide if Keytruda can be offered
3 to other people going through TNBC, I know that
4 this, this moment right now, is the reason why; so
5 that my words could be the words that you hear to
6 decide that Keytruda should be readily available,
7 and covered by medical insurance, and part of the
8 protocol for all stages of TNBC patients.

9 When the commercial for Keytruda comes on
10 TV, one day I want to hear "breast cancer" at the
11 end, as it approves cancer, too. Wow! Because
12 then I will know my silver lining and my why has
13 been answered. I'll never be able to express how
14 grateful I am that I've received Keytruda and to my
15 oncologist, because thank you is just not enough
16 for this gift.

17 In conclusion, my speech today is dedicated
18 to the memory of those that we've lost, to those
19 that are in the midst of their fight, and those we
20 still have to save. With sincerest gratitude, I
21 thank you for your time and your consideration
22 today. Thank you.

1 DR. HOFFMAN: Thank you.

2 Speaker number 6, your audio is connected
3 now. Will speaker number 6 begin and introduce
4 yourself? Please state your name and any
5 organization you are representing for the record.

6 DR. WEISS: Good afternoon. I'm Dr. Marisa
7 Weiss. I'm founder and chief medical officer of
8 Breastcancer.org. I'm also an oncologist in
9 practice for now over 30 years. I've received no
10 compensation for speaking here today, but in the
11 interest of full disclosure, Merck is one of many
12 Breastcancer.org corporate sponsors that provide
13 grants for content initiatives of which we are in
14 full editorial control.

15 As chief medical officer of Breastcancer.org
16 and as a practicing oncologist, I'm pleased to have
17 this very important opportunity to speak on behalf
18 of the thousands of women in the United States
19 living with triple-negative breast cancer at this
20 very moment.

21 For 20 years, the mission of
22 Breastcancer.org has been to empower people with

1 breast cancer to make the best decisions for their
2 care by providing free medically-reviewed
3 information and peer support. Through a variety of
4 resources, we reach out, educate, and support.

5 We also host a comprehensive online peer
6 community with women and men from all over the
7 world, who help each other cope with the challenges
8 of breast cancer. This year alone, 21 million
9 people have utilized Breastcancer.org's information
10 resources and peer community.

11 Imagine being diagnosed with breast cancer
12 and then finding out you have triple-negative
13 disease; just that name, that name. We never
14 wanted to name it that, but that's the name that it
15 has, and it can really feel like a death sentence,
16 and for too many people, it is.

17 Today, I want to focus on three key factors
18 of triple-negative breast cancer that illustrate
19 the urgency of developing novel strategies to
20 manage this disease subtype more effectively.

21 Number 1. As you've heard, black and
22 Hispanic women are disproportionately affected. As

1 everyone is aware, black women have the highest
2 rate of new cases of triple-negative breast cancer.
3 Recent events in our country have awakened a
4 renewed commitment to confronting the harms of
5 discrimination and disparities in every corner of
6 society and boldly pursuing equity. Health care is
7 no exception.

8 If we want to achieve health equity, as many
9 of us have pledged to do, we must promote research
10 and drug development for the conditions impacting
11 minority communities most, including
12 triple-negative breast cancer.

13 Number 2. Triple negative breast cancer is
14 more likely to be diagnosed in people under age 50.
15 This disease devastates young families as you've
16 heard today. We need to do more to prevent the
17 unimaginable heartache and loss that they
18 experience.

19 Number 3. The standard of care for
20 triple-negative breast cancer is simply inadequate
21 at this point. The typical treatment of
22 chemotherapy, surgery, and radiation can be both

1 debilitating and ineffective for too many women.

2 We must do better.

3 Triple-negative breast cancer is more
4 aggressive, has a poorer prognosis, and is more
5 likely to metastasize and recur compared to other
6 types of breast cancer. As an oncologist, I want
7 to be able to look at my patients in the eye and
8 give them reassurance and hope for new and improved
9 treatment options that deliver better results.
10 Their lives and their futures depend on it.

11 The words of Margaret, a member of the
12 Breastcancer.org community, say it all. Quote, "It
13 is sobering to realize that my subtype of breast
14 cancer has the worst prognosis for duration of
15 survival," unquote. Sobering and daunting; that's
16 the everyday reality for too many people who are in
17 desperate need of new and better options.

18 Thank you so much for allowing me the chance
19 to share with you today, on behalf of
20 Breastcancer.org, why we need to take immediate
21 action to better help everyone affected by
22 triple-negative breast cancer to overcome their

1 diagnosis and live a full life. And I can say on
2 behalf of Breastcancer.org and our other partner
3 advocacy organizations, that when this new
4 treatment option or other treatment options become
5 available, we will be there to make sure that any
6 woman affected by this disease will get the benefit
7 of these discoveries. Thank you.

8 DR. HOFFMAN: Thank you.

9 Speaker number 7, your audio is connected
10 now. Will speaker number 7 begin and introduce
11 yourself? Please state your name and any
12 organization you're representing for the record.

13 MS. GELBART: Good afternoon. My name is
14 Suzanne Gelbart, and I am one of the participants
15 of this clinical trial. I have not received any
16 compensation for speaking here by a company or an
17 individual.

18 In late January of 2018, at age 43, my
19 husband found a lump in my left breast. Two days
20 later, I was told that despite no family history of
21 it whatsoever, the 3-centimeter foreign mass was
22 breast cancer, and triple negative at that. My two

1 children were teenagers at the time. My career was
2 humming along. I was involved in volunteer work
3 with their high school and the National History
4 Museum in Los Angeles every single week.

5 I had a very full life going on, but cancer
6 is quite an inconsiderate thing. There were no
7 options for me. It was chemotherapy, surgery, and
8 possibly radiation, or I was going to die.

9 When I first met with my oncologist, she
10 told me that I qualified for this clinical trial,
11 and I did not hesitate. So I jumped in. Yes,
12 chemo; yes, trial; yes, surgery; yes, yes, yes.
13 Whatever you tell me I need to do, I will do it
14 because I am lost.

15 Ten days after my diagnosis, after the
16 whirlwind of scans and ports and chemo 101, I began
17 treatment and the process of designing my life
18 around blood draws, and infusions, and days of
19 exhaustion.

20 My experience with cancer is terrifying, and
21 humbling, and very boring. I of course don't
22 actually know if I was given the drug or the

1 placebo. My clinical results, though, were pretty
2 remarkable, at least to me. Six weeks after I
3 began treatment and the trial, not even halfway
4 through the first course of chemotherapy, my tumor
5 was completely gone. Only the marker that was put
6 in it was visible on the scan.

7 At that point, there were still many, many
8 months and two major surgeries to go, and I was
9 still reeling from going bald and trying to manage
10 all the physical side effects that go along with
11 chemo, while still grappling with all of the, "is
12 there anything unfinished in my life?" kind of
13 thoughts. But having that ultrasound that said no
14 cancer seen so early on in my treatment was a
15 lifeline to hope and a much-needed emotional boost.

16 My wish is that everyone working on this
17 trial only knows cancer through numbers and data
18 points, but in reality, this trial is about much
19 more. It's moms getting to see their children
20 graduate high school. It's women getting to become
21 mothers. It's women being able to have the career
22 that they dreamed of. It is about hope over

1 heartbreak, and that's what it gave to me at least.

2 I had my latest scan last week, and it was
3 all clear. I am now two and a half years
4 cancer-free, and each month that goes by, as I get
5 closer to that holy grail of the three-year mark, I
6 breathe a little easier.

7 In closing, I want to tell everyone today
8 how grateful I am for all the work you do to help
9 women like myself. It's my sincere hope that by
10 participating in the trial, maybe I have done some
11 good for someone else's future, as well as my own.
12 I believe that clinical trials like this one are
13 where the biggest and sometimes only strides are
14 made against diseases; and that eventually trials
15 like these become the standard of care and
16 absolutely save lives. Thank you for listening.

17 DR. HOFFMAN: Thank you.

18 Speaker number 8, your audio is connected
19 now. Will speaker number 8 begin and introduce
20 yourself? Please state your name and any
21 organization you're representing for the record.

22 DR. ZUCKERMAN: I'm Dr. Diana Zuckerman,

1 president of the National Center for Health
2 Research. Our center is a non-profit think tank
3 that scrutinizes the safety and effectiveness of
4 medical products, and we don't accept funding from
5 companies that make those products.

6 My perspective is as a scientist trained in
7 epidemiology and public health and as a former
8 faculty member and researcher at Vassar, Yale, and
9 Harvard. I've also worked at HHS. I'm a breast
10 cancer survivor, and I've worked with many breast
11 cancer patients.

12 Chemo and surgery work for many
13 triple-negative breast cancer patients, but we need
14 additional treatment options that are safe and
15 effective. I'll focus first on whether there's
16 evidence that immune checkpoint inhibitors are
17 effective for TNBC. I agree with FDA scientists
18 that there's still uncertainty about that based on
19 the results from several clinical trials, which as
20 the FDA points out failed to meet an overall
21 survival endpoint; and in one study, survival was
22 better for the control group.

1 The research question is, is Keytruda
2 effective as a neoadjuvant with chemotherapy
3 followed by surgery; is it effective as an adjuvant
4 after surgery; or both?

5 My second major focus is going to be on pCR
6 data. Our analysis agrees with FDA's that there
7 was only a 7.5 percent improvement in pCR, which we
8 agree may not be clinically meaningful even if it's
9 statistically significant. It's impossible to know
10 how this slight improvement would affect overall
11 survival; and even if it does, how much neoadjuvant
12 and adjuvant use each might contribute to any
13 benefit.

14 On event-free survival, we agree with FDA
15 that it's not statistically significant, not
16 clinically meaningful, and did not show a stable
17 trend, and that's why this study should be
18 continued to determine any benefits, and for whom.

19 FDA reviewers concluded that data on overall
20 survival are, quote, "too immature to provide a
21 conclusive interpretation regarding the difference
22 in overall survival between treatment arms," and we

1 agree. And what about safety? There were
2 96 deaths, which FDA points out is less than
3 one-third needed for the final analysis. So the
4 overall survival estimate may be unreliable and the
5 treatment effect size reported is uncertain.

6 I'm glad that KEYNOTE-522 included
7 patient-reported outcomes but, unfortunately, it
8 was not designed to compare differences in those
9 outcomes, in symptoms, in side effects, or
10 health-related quality of life, and patient-
11 reported endpoints were not prospectively
12 identified or statistically tested. Those patient-
13 reported assessments should have been more
14 frequent, both for the neoadjuvant and the adjuvant
15 treatments.

16 Many high-risk, early-stage TNBC patients
17 will be cured with standard therapy, as FDA has
18 pointed out. So what's the risk versus benefit
19 shown in this study? The benefits are unclear, but
20 the risks are clear. There are toxicities that can
21 be irreversible and some that would require
22 lifelong medication in patients that have been

1 cured of their breast cancer.

2 The sponsor counted two deaths due to
3 immune-mediated adverse events, and the FDA counted
4 four. There were many other serious adverse
5 events. Forty-three percent of all immune-mediated
6 adverse events were in the Keytruda patients
7 compared to 22 percent in placebo; and of those
8 that were higher grade adverse events, 15 percent
9 were in the Keytruda patients versus 2 percent in
10 placebo. Ten percent of the hospitalizations due
11 to adverse events were in the experimental group
12 versus 1 percent in the placebo group.

13 These adverse events were not resolved at
14 the last assessment in the study for 19 percent of
15 the Keytruda patients. Sixteen percent had
16 initiated thyroid hormone replacement during the
17 study just as an example of how serious these
18 adverse events are.

19 In summary, the deaths are particularly
20 concerning because these patients can be cured
21 without Keytruda. All immune-mediated adverse
22 events, including the worst ones, were increased in

1 those patients. As FDA has pointed out, some of
2 these may be severe or lifelong, and the adjuvant
3 treatment has fewer adverse events but has not
4 demonstrated efficacy at all. So it may add risk
5 without any benefit.

6 The FDA conclusions were very clear and our
7 analysis agrees with them. Neoadjuvant use, quote,
8 "confers only a small absolute improvement in pCR
9 rate of questionable clinical meaningfulness,"
10 unquote.

11 Event-free survival and overall survival
12 are, quote, "immature and unreliable," unquote.
13 KEYNOTE-522 does not currently support a role for
14 adjuvant use and, quote, "supportive data of
15 clinical benefit are lacking," unquote.

16 The toxicity from the drug may be, quote
17 "severe, irreversible, and/or require lifelong
18 medication in potentially curable and otherwise
19 healthy patients."

20 In conclusion, we do patients no favors to
21 approve a treatment that is not proven to benefit
22 them and is proven to cause harm for a substantial

1 percentage of patients. I know from my own
2 experience, we all want hope, but hope doesn't save
3 lives, and that's why the FDA has to rely on the
4 science.

5 Thank you very much for the opportunity to
6 speak today.

7 **Clarifying Questions to Presenters (continued)**

8 DR. HOFFMAN: The open public hearing
9 portion of this meeting has now concluded and we
10 will no longer take comments from the audience.

11 We will now take remaining clarifying
12 questions for all the presenters thus far. Please
13 use the raised-hand icon to indicate that you have
14 a question and remember to put your hand down after
15 you have asked your question. And please remember
16 to state your name for the record before you speak
17 and direct your questions to a specific presenter
18 if you can.

19 If you wish for a specific slide to be
20 displayed, please let us know the slide number if
21 possible. And as a gentle reminder, it would be
22 helpful to acknowledge the end of your question

1 with a thank you and end of your follow-up question
2 with, "That's all for my questions," so we can move
3 on to the next panel member.

4 We're going to go in the order. There were
5 a number of members of the committee who had their
6 hands up before we had the break, and I want to be
7 sure that each one gets their chance before we
8 might go back to some who've already spoken.

9 I had a question for the applicant, probably
10 Dr. Karantza. On CE-7 slide, I was wondering if
11 you could put that up for a moment. And in
12 particular, it related to the fact that a smaller
13 percentage of patients on the pembrolizumab arm
14 proceeded to adjuvant therapy than on the placebo
15 arm; at least that was what I took from that slide,
16 CE-7 --

17 DR. GOODMAN: Can we get CE-7, please?

18 DR. HOFFMAN: -- near the bottom there.

19 DR. GOODMAN: Vicki Goodman, vice president,
20 clinical research. You're referring to the
21 75 percent who started adjuvant therapy on the
22 pembrolizumab arm compared to the nearly 85 percent

1 on the placebo arm. Is that correct?

2 DR. HOFFMAN: Yes.

3 DR. GOODMAN: And your question?

4 DR. HOFFMAN: What was the difference or was
5 this because of dropout, or why?

6 DR. GOODMAN: Right. So I will ask
7 Dr. Karantza to speak specifically to the reasons
8 why patients did not proceed on to adjuvant
9 therapy. I will note that the patients who
10 completed neoadjuvant chemotherapy was quite
11 similar on the two arms, and Dr. Karantza can share
12 those data as well.

13 DR. KARANTZA: Yes. Thank you.

14 So as you mentioned, about 25 percent of
15 patients in the pembro group and 15 percent in the
16 control group did not receive adjuvant therapy.
17 The most common reason why patients did not get
18 adjuvant therapy was discontinuation of
19 pembro/placebo due to toxicity in the neoadjuvant
20 phase. That incidence was 14.3 percent in the
21 pembro group compared to 4.9 percent in the control
22 group.

1 Here is where we need to mention that the
2 patients were discontinued pembro/placebo due to an
3 adverse event. They could not get it in the
4 adjuvant phase. Discontinuation of pembro/placebo
5 did not mean discontinuation of chemotherapy, so
6 actually, the exposure to chemotherapy was very
7 similar in both arms.

8 Furthermore, there were a few more reasons
9 why patients did not get adjuvant therapy, and
10 those included disease progression before surgery.
11 There were a few patients that had a disease
12 recurrence after surgery before starting adjuvant
13 treatment, and then there were a few patients with
14 withdrawal of consent or physician decision.

15 DR. GOODMAN: Thank you, Dr. Karantza.

16 DR. HOFFMAN: Okay. Thank you. That's all
17 for my question.

18 I think next, Dr. Halabi had a question.

19 DR. HALABI: Thank you. Dr. Hoffman.

20 This is Susan Halabi. I have a couple of
21 questions, really, more a clarification for the
22 applicant, and then another question for the FDA.

1 Following up on the definition of EFS, since it
2 wasn't consistently defined between the sponsor and
3 the FDA, for the next interim analysis it is
4 expected that there will be 200 events. In
5 essence, the applicant is waiting for 47 more
6 events to occur for the next interim analysis.

7 Assuming this occurs -- and I know you will
8 probably not have a look at the OS. But one thing
9 that I was a little bit concerned was the
10 association between pCR and EFS. In all the
11 studies that were presented, I assume they included
12 the positive margin patients. Is that correct?
13 Specifically I'm referring to slides CU-7.

14 If you also bring up the slide that did the
15 sensitivity analysis with a hazard ratio of 0.68,
16 please up, because I had some questions regarding
17 that.

18 DR. GOODMAN: Dr. Halabi, maybe I can
19 address the first part of your question, and in
20 particular speak about the relationship between
21 pathologic complete response and event-free
22 survival. We did, as you note, use a slightly

1 different definition of EFS than some other trials
2 have used with respect to the positive margins. As
3 you've seen, that has had a minor impact on
4 event-free survival in a sensitivity analysis.

5 But I think the point you're raising about
6 the relationship between pathologic complete
7 response and EFS is a really important one. We are
8 fortunate to have Dr. Don Berry with us today, and
9 the relationship between the magnitude of
10 improvement in pCR and EFS in subtypes of early
11 breast cancer, including TNBC, was modeled and
12 published by Dr. Barry and Dr. Hudis, based on
13 FDA's meta-analysis of neoadjuvant breast cancer
14 trials.

15 What we're seeing is that the magnitude of
16 EFS improvement in our interim data exceeds what
17 would be expected based on this modeling, which for
18 TNBC was based on chemotherapy trials, as you've
19 noted. So I'd like to ask Dr. Berry perhaps to
20 speak to that modeling work and contrast it to what
21 we're seeing in KEYNOTE-522.

22 DR. BERRY: Thank you, Dr. Goodman.

1 This is Don Berry, consultant to Merck.
2 Slide up, please. The figure on this slide is
3 modified from the article that Dr. Goodman
4 mentioned and Cliff Hudis and I published in JAMA
5 2015.

6 The article deals with the role of the
7 Cortazar FDA meta-analysis in designing and
8 interpreting results of clinical trials that are
9 consistent with the FDA's neoadjuvant breast cancer
10 guidance.

11 The horizontal axis in this figure is the
12 increment and pCR rate for an experimental therapy
13 over control. The vertical axis is the
14 corresponding EFS hazard ratio for the experimental
15 therapy against control. The solid black and
16 orange curves show the expected hazard ratio,
17 assuming that an increment in pCR rate moves the
18 corresponding proportion of patients from the no
19 pCR curve to the pCR curve in the FDA meta-
20 analysis. So black is TNBC; orange is
21 HER2-positive disease.

22 The point of the article is to show that the

1 meta-analysis is highly predictive of a
2 controversial conclusion from two trials in
3 HER2-positive breast cancer, one neoadjuvant and
4 the other adjuvant. NeoALTTO had shown an apparent
5 substantial 20 percent increment in pCR rate.
6 We're adding lapatinib to standard HER2 therapy,
7 while ALTTO had shown a seemingly modest EFS hazard
8 ratio of 0.84 for the same therapy.

9 As shown in the figure, the meta-analysis
10 predicted a hazard ratio of 0.83, so essentially
11 the same, thus arguing that the meta-analysis was
12 completely consistent with the result of both
13 trials.

14 Similarly, as the figure shows, in TNBC the
15 addition of carboplatin is standard neoadjuvant
16 therapy, and CALGB 40603 showed a 14 percent
17 improvement in pCR rate and an EFS hazard ratio of
18 0.84, which is exactly what the meta-analysis in
19 this molecular subtype predicted.

20 However, as Hudis and I wrote at the time,
21 quote, "A new therapy's effect may not translate to
22 EFS in the same way as do the collective

1 chemotherapies in the FDA meta-analysis. The
2 hazard ratio for a given pCR improvement might be
3 larger or smaller than shown in the figure.

4 "Including interim analyses of EFS by pCR
5 within treatment arm of a phase 3 trial can
6 mitigate this uncertainty by tailoring the trial
7 sample size to the accumulating evidence. Updating
8 the meta-analysis using results that apply for the
9 actual treatments and circumstances of the trial
10 can be highly valuable," so end quote.

11 What does this mean for pembro in 522? The
12 open circle shows a modest 7.5 percent improvement
13 in pCR rate. The predicted EFS hazard ratio is a
14 very modest 0.9, far from the 0.65 observed in IA3
15 and requiring a trial much larger than KEYNOTE-522
16 to be powered to show an EFS benefit. Indeed, as
17 shown in the figure, 0.9 is not even within the IA3
18 95 percent confidence interval.

19 Pembrolizumab has an apparent beyond-pCR
20 impact on EFS. As Dr. Rugo suggested, perhaps a
21 relationship between pCR and EFS is different in IO
22 than for chemotherapy, or the year-long treatment

1 with pembro in the trial may be delivering an
2 additional boost for patients whether or not they
3 had achieved the pCR. But for whatever reason, the
4 FDA meta-analysis does not explain the results of
5 KEYNOTE-522.

6 In any case, the results of KEYNOTE-522 are
7 still in complete accord with the FDA's guidance.
8 Quoting from the guidance, "A single-trial model,"
9 as mentioned by Dr. Shah, "may enable a single,
10 well-controlled, randomized trial if adequately
11 powered and sufficiently compelling results would
12 serve as the basis for both accelerated and
13 traditional approval," end quote.

14 The predictive analysis, based on the
15 interim EFS results at IA3, make clear that
16 KEYNOTE-522 is adequately powered, having an
17 overall predictive power of 97.6 percent. And I'd
18 be happy to explain this calculation to repair some
19 of the misinterpretations of our calculation that
20 was represented by the FDA's presentation. So
21 thank you.

22 DR. GOODMAN: Thank you, Dr. Berry.

1 I'll re-emphasize again, we don't know what
2 magnitude of pCR benefit will lead to a benefit in
3 a clinical endpoint such as EFS, in particular for
4 immunotherapy. However, what we're seeing here is,
5 based on the early data we have for EFS, a benefit
6 which appears to exceed that, that we would expect
7 from pCR, based on the FDA's meta-analysis, which
8 is consistent with the mechanism of action of
9 immunotherapy, where frequently the effects on
10 long-term outcomes are not captured in response
11 data. Thank you.

12 DR. HALABI: Thank you. I still have my
13 next question, which is, again, the hazard ratio
14 based on 168 [indiscernible] EFS. So if we look at
15 the hazard ratio, that estimate was 0.68 with a
16 95 percent confidence interval of 0.5 to 0.92. And
17 perhaps Dr. Berry may be able to answer that in the
18 calculation of the predictive probability.

19 Did you take into consideration a potential
20 hazard ratio of 0.92, and what was that? That was
21 the one question.

22 Then the follow-up question regarding the

1 meta-analysis had to do with the definition of EFS.
2 Did you in the analysis include patients with
3 positive margin, and what was that very small
4 component of your endpoint? That is similar to
5 what's observed in KEYNOTE-522 of, I believe,
6 1.34 percent. Thank you.

7 DR. GOODMAN: Dr. Berry, would you like to
8 address that follow-up question?

9 DR. BERRY: Yes, there are two parts. One
10 part is the 0.68 that is excluding positive margins
11 as an event, and Dr. Karantza's presentation
12 demonstrated that these are -- I hesitate to use
13 the word -- a surrogate for later events, at least
14 in many of the cases.

15 We looked at that as a sensitivity analysis,
16 yes. It doesn't change much. I mean, the 0.65
17 changes to 0.68. The number of events, and
18 therefore the precision associated with the
19 estimate for the 0.68, is somewhat less than the
20 0.65. It changes the predictive probability
21 somewhat, but qualitatively speaking, it's very
22 similar.

1 To address the 0.92, it relates to this
2 issue of what the FDA calls the applicant's model
3 and what is the real applicant's model.

4 If I can have slide ST-7, please? Slide up,
5 please. This, Dr. Halabi, shows the current
6 likelihood for the IA3, for the EFS hazard ratio,
7 and that's the thing on the left. The panel on the
8 right shows the predictive power over time.

9 This is a Bayesian concept. It uses the
10 likelihood ratio as the posterior distribution.
11 The FDA suggested something about the prior
12 distribution. Well, the prior distribution is
13 flat. It's open-minded. It's not informative.
14 The posterior distribution in the Bayesian approach
15 is based exclusively on the data in the trial.

16 The hazard ratio that it shows, this is a
17 histogram hazard ratio that it shows. There's a
18 0.9 down at the bottom. There's 0.92. There's
19 somewhat less likelihood associated with the 0.92
20 than 0.9.

21 What the FDA did, and called it the
22 applicant's model, is looked at the 0.4 -- I

1 believe. They didn't say explicitly what they did.
2 They looked at the 0.4, the 0.1, and gave a range.
3 That's not what the Bayesian approach is. The
4 Bayesian approach is an average of the power, where
5 the weights in the average are the current
6 likelihood. So the things on the right show that
7 for IA4, that number is 0.73; so 73 percent
8 probability of statistical significance at IA4,
9 given the number of events that you mentioned.

10 This is within the FDA's range, actually.
11 The reason it's within the FDA's range is what the
12 FDA did in their calculation was to assume 0.65 and
13 0.8 and considered two values. One was 0.62 for
14 the predictive probability -- that's the 0.8 shown
15 on the left-hand panel with that red dashed
16 line -- and 0.65, which is the point estimate of
17 the hazard ratio, which is the most likely
18 estimate; and they came up with a 0.78.

19 So that range as shown for IA4 is to
20 indicate they hesitated to look at the later
21 endpoints because of the lack of reliability. And
22 indeed, when you add more time to what you're

1 predicting, that time leads to greater uncertainty.
2 On the other hand, when you go from IA4 to IA5,
3 there's greater precision in the estimate in IA5
4 and in IA6 because we're getting more and more
5 information. So these are cumulative predictive
6 powers.

7 As you get up to the final analysis, the
8 probability that you see at least one of these that
9 is a positive conclusion is 0.976, so a very high
10 probability; and as I indicated earlier, adequately
11 powered.

12 So the 0.92, if you assume 0.92, that would
13 be beyond the pale, and it's not very likely that
14 KEYNOTE-522 is going to show statistical
15 significance if in fact the truth is 0.92. But
16 that has extremely low probability in view of the
17 data that we've seen in IA3. And indeed, the
18 confidence interval -- and this is using the 0.65
19 that is with the positive margins -- goes from 0.48
20 up 0.88 with the -- I've forgotten the number
21 now -- 0.68 that's wider and somewhat shifted to
22 the right, and includes the 0.92.

1 So back to the second point, the issue of
2 the 0.68 versus the 0.65, yes, a difference; not a
3 very important difference. Thank you.

4 DR. GOODMAN: Thanks, Dr. Berry.

5 So when we look at the totality of the
6 evidence with a favorable effect on pathologic CR
7 rate as well as the interim EFS data, which you've
8 seen, we believe that the totality of the evidence
9 here, along with what we've shown in the metastatic
10 setting in KEYNOTE-355, are reasonably likely to
11 predict clinical benefit. Therefore, we are
12 requesting accelerated approval on the basis of
13 the -- [inaudible - audio gap].

14 DR. HALABI: Thank you. Those questions
15 were addressed. The final question I had is really
16 for the FDA.

17 In the event this was not approved for
18 accelerated approval, would the sponsor be able to
19 come back for another application for accelerated
20 approval if there is a statistically significant
21 EFS with 405 EFS events?

22 DR. AMIRI-KORDESTANI: Hi. Can you hear me?

1 This is Laleh Amiri from FDA.

2 DR. HOFFMAN: Yes.

3 DR. AMIRI-KORDESTANI: To address your
4 question, yes, the sponsor may submit future
5 applications for regular approval or accelerated
6 approval when they have further data, which
7 basically you stated from the next interim
8 analyses.

9 I also wanted to clarify and add a comment
10 about the prior question that you raised with the
11 applicant. It hasn't been FDA's practice to
12 approve a drug based on likelihood or modeling a
13 future statistical significance. So our thinking
14 is that we need further follow-up, and that's the
15 only reliable way that we can characterize
16 event-free survival for this patient population.

17 I'd like actually to ask our statistical
18 reviewer, Dr. Amatya, to add a comment.

19 DR. AMATYA: Yes. I hope you can hear me.

20 DR. AMIRI-KORDESTANI: Yes.

21 DR. AMATYA: Okay.

22 Well, what Dr. Berry said was supposedly the

1 applicant's model actually is the applicant's
2 model. The applicant provided us with the
3 predictive probability model and programming code
4 used to generate the results, as well as a
5 potential distribution for hazard ratio.

6 Although we computed the range using the
7 same model and the same programming code as the
8 applicant, the range that we provided under the
9 applicant's model is based on predictive
10 probability calculated from all the 8 scenarios
11 that were included in the programming code; whereas
12 the range that the applicant has provided is based
13 on 2 of 8 scenarios.

14 As stated earlier in the presentation, these
15 predictive probability models are highly sensitive,
16 although it was presented as very specific. But
17 they are very variable based on the modeling
18 assumption. And our view is that it should not
19 replace the continued follow-up of EFS needed to
20 adequately characterize, again, a clinical benefit
21 of pembrolizumab on EFS.

22 I also will add that the applicant's data

1 monitoring committee has also recommended this
2 study to be continued without change, and that
3 efficacy has not been demonstrated in interim
4 analysis 3.

5 DR. HOFFMAN: Thank you.

6 We are running low on time, but I want to be
7 sure that Dr. Hayes, and Dr. Wolff, and Dr. Kraus
8 can ask their questions hopefully succinctly.

9 Dr. Hayes?

10 DR. HAYES: Yes. Thank you very much. I
11 very much appreciate all the comments by both the
12 applicant and the FDA, and especially the public
13 comments.

14 I have three questions. The first of
15 those -- and it just sort of came out in the last
16 answer -- is, in the briefing document that we
17 received, it does mention that there was a
18 discussion with the DSMC, but it doesn't provide us
19 information as to whether the DSMC actually agreed
20 with moving forward with this submission.

21 So I guess this is a question to the
22 applicant. Was the DMC in agreement with your

1 current strategy?

2 DR. GOODMAN: So as you've heard, the DMC
3 met regularly, and at interim analysis 3, as EFS
4 had not yet met statistical significance, asked for
5 the trial to continue. I will ask Dr. Karantza to
6 address specifics of what was discussed with the
7 DMC with respect to submission plans.

8 DR. KARANTZA: This is Valia Karantza. I'm
9 the clinical lead for the breast program at Merck.
10 In regards to the DMC's recommendation, that was a
11 recommendation that the study should continue. So
12 of course we took that recommendation, and the
13 sponsor remained blinded at that point to the IA3
14 results.

15 In regards to the filing, the applicant made
16 the decision to file. Again, the DMC is an
17 advisory committee. We did notify the DMC that we
18 were submitting an application. We did not get any
19 objection.

20 DR. HAYES: So I just want to be sure I
21 understand what you just said. You had no
22 objections from the DMC for currently unblinding

1 and submitting these data.

2 Is that true?

3 DR. KARANTZA: No, no, no. No. Okay. I
4 think there is a big misunderstanding here. The
5 IA3 data, the sponsor was unblinded only to IA2 EFS
6 data. The sponsor was blinded to IA3 data. The
7 DMC only recommended that we continue. Nobody in
8 the sponsor was communicated the specific results.
9 So we applied based on the interim analysis 2 EFS
10 and interim analysis 1 path CR.

11 During the process of our application, the
12 FDA asked for the IA3 results, at which time point
13 only an executive committee within Merck, actually
14 in a blinded fashion, provided the data to FDA.
15 The sponsor's team for the application was still
16 blinded. And only upon a consultation with FDA,
17 was it suggested that it would be good for the
18 sponsor to actually be unblinded so that the IA3
19 data could be discussed at this meeting. So that
20 is the only way we got unblinded.

21 DR. HAYES: And does --

22 (Crosstalk.)

1 DR. KARANTZA: Yes?

2 DR. HAYES: Does the DMC have concerns,
3 ethical concerns, about if this were to get
4 accelerated approval, that there would be huge
5 interest in unblinding at a patient level so that
6 patients could decide if they do or do not wish to
7 take the drug?

8 DR. KARANTZA: There was no such concern
9 conveyed to us.

10 If I may make one comment, the IA2 results
11 were already public with a hazard ratio of 0.63.
12 We do follow closely any unblinding request. The
13 only unblinding that is permitted, unless it's an
14 emergency unblinding, is for documented disease
15 recurrence with a biopsy and/or imaging scans, and
16 we have not seen an increase in unblinding since
17 the IA2 results became public.

18 DR. HAYES: But public --

19 (Crosstalk.)

20 DR. GOODMAN: Dr. Hayes --

21 DR. HAYES: -- FDA approval.

22 Yes, please?

1 DR. GOODMAN: Dr. Hayes, I will also note
2 that all patients are off of treatment at this
3 point, so the sponsor did take multiple steps, as
4 you've heard from Dr. Karantza, to keep the study
5 team blinded, and we were unblinded to IA3 late.
6 However, all patients have been off of study
7 treatment now for approximately one year.

8 DR. HAYES: Okay. Thank you.

9 My second question I guess is directed to
10 Dr. Rugo. She gave a compelling testimony that
11 delay would result in many patients suffering an
12 event -- I can't remember how many she said -- that
13 would not have had to. We've also heard, I think,
14 that the next assessment will come after 47 more
15 events. I presume that's a few months into 2021.
16 That was implied.

17 So my real question is, how many patients
18 during that period of time, and what percentage of
19 the overall group of patients in the United States
20 that might have benefited, would suffer distant
21 recurrence, not any event -- because events, as
22 we've heard, are both positive margins but also new

1 primaries and death of any cause -- versus the
2 1 percent expected mortality rate with this drug in
3 all trials, as far as I can see? It's about 0.75
4 to 1 percent mortality rate.

5 It seems to me that the 6-month delay or so
6 it would take to see IA4 would just about be a wash
7 in terms of the number of distant metastases that
8 are prevented and the number of potential fatal
9 adverse events.

10 Dr. Rugo, do you want to respond to that?

11 DR. GOODMAN: Before I turn this over to
12 Dr. Rugo, which I'll do in a moment, just a couple
13 of clarifying comments.

14 I think one is that the interim analysis is
15 calendar driven and not event driven, and we're
16 expecting the data would be available in the third
17 quarter of this year.

18 The second is coming back to the question on
19 deaths, what we were speaking about earlier was the
20 overall deaths due to an AE, whereas the
21 treatment-related deaths due to an AE was
22 approximately 0.5 percent. Again, not that we

1 should be comfortable with any deaths, however, I
2 think that does help put their benefit-risk in
3 perspective.

4 Perhaps now I'll turn it over to Dr. Rugo to
5 talk a little bit more about additional
6 recurrences -- I'm sorry, with one more comment,
7 which is that while IA4 has a reasonable likelihood
8 of demonstrating a clinically meaningful and
9 statistically significant benefit, of course that's
10 not a guarantee, and we may be waiting
11 substantially longer than that.

12 So with that, I'll turn it over to Dr. Rugo.

13 DR. RUGO: Thanks. Certainly, I'd welcome
14 any comments from Don Berry or others at the end of
15 my comment.

16 It's a great question. I think the concern
17 that I have as a clinician is the plateau that we
18 see, which means that there are continued
19 recurrences, but the rate slows down. So it could
20 take us a very long time to see the benefit we're
21 looking for as that rate slows down, based on our
22 historical data over time. But you need a certain

1 number of events in order to see the difference
2 you're seeing, and there's a time-driven approach
3 as well that was just described.

4 In terms of balancing this against the
5 toxicity, this is a critical issue. We're always
6 doing a risk-versus-benefit analysis and thinking
7 about adding new drugs. As I mentioned earlier, I
8 feel like the patients who are at the highest risk
9 also have the highest risk of dying early. So if
10 we could prevent recurrences of the triple-negative
11 disease, that's of course great; everybody wants
12 that. But we need to balance it, as you've put
13 forth very nicely, against the known risks.

14 In this trial, which was done in many, many
15 sites where people really didn't have a lot of
16 experience using immunotherapy anywhere, I think
17 that it's important to look at some of the
18 toxicities and how they could be prevented by
19 simply knowledge and experience, and providing
20 educational materials.

21 So I guess in my thinking of this, actually
22 when you become more familiar with understanding

1 immune toxicities and intervening early, we could
2 really significantly reduce the potential issues
3 that have been seen across different trials and
4 across different malignancies using these
5 checkpoints inhibitors.

6 DR. HAYES: Well, I don't want to belabor
7 this further. I'd like to ask my third question,
8 and this is directed towards Dr. Berry, who gave us
9 an extraordinary lesson in Bayesian statistics.
10 But it seems to me that there is a cohort effect
11 that we're ignoring.

12 For example, Dr. Rugo just mentioned the
13 plateau, but the plateau has occurred after the
14 median follow-up, which looks to be about 23 to
15 27 months. So you've got half of those patients
16 who are still relapsing, who are coming into that
17 median follow-up time.

18 Don, this really is asking you a question,
19 because the slide you showed for predictive power,
20 you're assuming that the cohort coming into this is
21 the same as the cohort going out. But as you
22 know -- you taught me frankly -- that's not always

1 the case.

2 Furthermore, it seems to me the slide you
3 showed was the predictive power for predicting that
4 something was going to happen and that IA4 was
5 better than IA3. I agree, but that doesn't mean
6 it's predicting for a positive outcome, does it?
7 Doesn't that just mean increasingly gaining power
8 to protect what's going to happen in the long run
9 until you get to the final analysis?

10 So unless I'm mistaken, you showed the
11 predictive power was going up-up, which I agree,
12 but that's not predictive power for a positive
13 outcome; it's predictive power for what the outcome
14 really is, or did I misunderstand that? It seems
15 to me that could go either way, for a positive or a
16 negative effect from the recurrence of about
17 75 percent positive prediction.

18 Am I misunderstanding, Don?

19 DR. GOODMAN: Dr. Berry?

20 (No response.)

21 DR. HAYES: Dr. Berry?

22 (No response.)

1 DR. GOODMAN: Don?

2 (No response.)

3 DR. HAYES: Well, that's unfortunate because
4 I want to be sure I understood that set of curves
5 he just showed, and I don't think I do. And it's
6 pretty critical to what Dr. Rugo just said in
7 regards to anticipating whether the benefits
8 outweigh the risks here.

9 DR. GOODMAN: Dr. Hayes, just give us a
10 moment and let me see if we can get through to
11 Dr. Berry.

12 DR. HAYES: Thanks.

13 DR. HOFFMAN: In the meantime, I think,
14 Dr. Pazdur, did you want to make a comment before
15 we move on?

16 DR. PAZDUR: Yes. Hi. This is Rick
17 Pazdur -- done information on this, and I think
18 it's --

19 DR. HOFFMAN: You're breaking up.

20 DR. PAZDUR: -- [inaudible - audio gap] need
21 to understand -- accelerated approval, and regular
22 approval, or conventional approval.

1 For both of these approvals, whether one
2 talks about accelerated approval or a conventional
3 approval, one needs what is known as substantial
4 evidence, and substantial evidence is a statistical
5 persuasive effect on an endpoint.

6 This is not about a guessing game of whether
7 the drug works or whether a model shows something.
8 This is basically an effect on an endpoint that is
9 reasonably likely to predict a clinical benefit,
10 and on a clinically meaningful endpoint of a
11 sufficient magnitude here.

12 So this is not about guessing whether
13 something would happen, so to speak. It has to be
14 demonstrated, especially if you're talking about an
15 adjuvant therapy here, where we don't really have
16 mature data to make that decision.

17 I just want to make people understand, it's
18 really the basis of an endpoint that one is looking
19 at, either an early clinical endpoint or a
20 surrogate endpoint, but the effects on those
21 endpoints should be statistically persuasive. And
22 I think that's very important for the committee to

1 understand our rationale and our reasoning on this,
2 and our discussions on this from the FDA
3 perspective.

4 DR. HAYES: Dr. Pazdur, this is Dan Hayes
5 again. I think your comments are supporting my
6 concern that we can't really predict what's going
7 to happen without actually seeing the real data.
8 We can make a --

9 DR. PAZDUR: Correct.

10 DR. HAYES: --logical guess. Just like
11 predicting a football game, one team looks better
12 than the other, but that's why you play the game.
13 I think that's what you're saying.

14 DR. PAZDUR: For example, let's take this to
15 a metastatic disease setting. We won't accept
16 somebody coming in with interim analysis,
17 basically, at any time point, just saying we're
18 modeling this to determine whether an effect is
19 there, so to speak.

20 We need to see that effect and whether it is
21 an effect on EFS or an effect on overall survival.
22 Substantial evidence needs to exist. We can't be

1 put in a predicament of potentially approving a
2 placebo here or something of very, very marginal
3 benefit, especially considering the toxicity of
4 this drug and the long duration of use of this
5 drug.

6 DR. HAYES: If Dr. Berry comes on, I think
7 what Dr. Pazdur just told me is that although it's
8 more likely to be a positive than a negative study,
9 it could still go either way. And that's why we
10 need a longer follow-up and more events to
11 determine, especially given the potential 1 percent
12 or so, 0.5 to 1 percent, mortality rate.

13 Richard, I'm putting words in your mouth,
14 but is that what you just said?

15 DR. PAZDUR: In a sense, yes. We have to
16 have substantial evidence to determine whether
17 there is an effect on an endpoint.

18 DR. HOFFMAN: Okay. Moving on, Dr. Wolff, I
19 think you had a question earlier.

20 DR. WOLFF: Thank you very much. The hour
21 is late, and my question is more a follow-up to the
22 comments. And I'm happy to yield time so that I

1 could make them during the discussion before the
2 time we vote. So I can yield back to you.

3 DR. HOFFMAN: Okay.

4 Dr. Kraus?

5 DR. KRAUS: Yes. Albert Kraus, industry
6 representative. Actually, I will do the similar
7 because Dr. Halabi kind of brought out the whole
8 discussion of pCR relationships, et cetera, so I'll
9 not ask the question again. Thank you.

10 DR. HOFFMAN: Okay. I think I've covered
11 everyone who has their hand up for a question.

12 Am I right?

13 (No response.)

14 DR. HOFFMAN: Okay. I think I'll ask those
15 of you who have completed your questions to put
16 your hand down, please, and we'll now move on to
17 today's question.

18 I'm sorry. We're going to turn our
19 attention now to address the task at hand, which is
20 the careful consideration of the data before the
21 committee, as well as the public comments. We'll
22 proceed with questions to the committee and panel

1 discussions. And I'd like to remind public
2 observers that while this meeting is open for
3 public observation, public attendees may not
4 participate except at the specific request of the
5 panel.

6 I think it's now up to the committee to
7 discuss these things, and maybe I should let
8 Dr. Wolff or Dr. Kraus move on if they were holding
9 their comments.

10 DR. BERRY: Don Berry is on.

11 DR. GOODMAN: Dr. Hoffman, I think Don is
12 back - yes -- if you'd like him to take that
13 earlier question.

14 DR. HOFFMAN: Okay. Why don't we finish up
15 with that, with Dr. Hayes' question.

16 DR. GOODMAN: Perhaps, Dr. Hayes, if you
17 could repeat the question.

18 DR. CHEN: Sorry, everyone --

19 DR. BERRY: Hello?

20 Dr. Hayes, could he ask his question again?

21 DR. HOFFMAN: You know, I think maybe we
22 probably covered that sufficiently.

1 Dr. Hayes, do you feel that we have?

2 DR. HAYES: I personally feel that we have,
3 unless the applicant feels that I've misunderstood
4 and Dr. Pazdur's comments are also a
5 misunderstanding. But I believe I've received the
6 information I need.

7 DR. HOFFMAN: Okay. So let's move on to the
8 committee's discussion, then, at this point.

9 Dr. Wolff, would you like to make a comment?

10 DR. WOLFF: So, I do, and this may be the
11 only time that I actually need to speak; there are
12 many of us. Mine is a comment but also qualified
13 comments to observations made by Dr. Rugo and
14 Dr. O'Shaughnessy.

15 I think this has been incredibly
16 informational to me. I want to thank Merck
17 advisors and the FDA for all of this. I also am
18 very touched, honestly, by all who spoke during the
19 open public hearing, and my deep appreciation for
20 all of you who spoke and who participated in the
21 clinical trials that allow the data we have so that
22 we can continue to improve outcomes for the next

1 generation of patients and their loved ones, and
2 especially those of you who participated in
3 KEYNOTE-522.

4 I say this because we're all trying to have
5 what I call the Goldilocks approach. We don't want
6 to do too much, we don't want to do too little, and
7 we want to help patients while we minimize harm
8 from our best intentions.

9 I say this with the perspective of being
10 both a clinical researcher, as I am chair of the
11 NCI-funded ECOG-ACRIN Breast Cancer Committee, but
12 I'm also a breast cancer doctor, and I see patients
13 in clinic two full days a week. So I have
14 individual discussions and individual decisions
15 very often.

16 I'm also past chair of ASCO's Clinical
17 Practice Guidelines Committee. Dr. O'Shaughnessy
18 earlier mentioned the recent ASCO guidelines for
19 neoadjuvant chemo, endocrine therapy, and target
20 therapy for breast cancer. And for those of you
21 who want to read more about this, the PubMed id is
22 33507815. And it's important to say the guidelines

1 were developed based on evidence, and they are
2 informed by clinical experience, especially when
3 evidence is lacking.

4 So here we're dealing with neoadjuvant
5 therapy followed by adjuvant therapy. Neoadjuvant
6 treatment was originally developed to manage
7 locally advanced breast cancer, and then a
8 substantial interest developed to help both
9 officially use pathologic response, or pCR, as the
10 intermediate endpoint, the so-called surrogate
11 marker, to help identify treatments that would most
12 likely translate into improved survival.

13 Therefore, clinical trials would then
14 propose to allow testing of drugs that phase on
15 path response could then graduate to continuing for
16 larger studies now powered to test survival, and
17 Dr. Don Berry himself has been involved with many
18 of these studies.

19 This led to two schools of thoughts: one,
20 that reaching a path response, pCR, is the main
21 goal; a second one, that a path response can be
22 used as a functional biomarker to help us modulate

1 or optimize subsequent treatments so that you could
2 start with a little bit less therapy first, and
3 then use the initial response such as surgical
4 response to help you make decisions about
5 escalation or de-escalation.

6 The guideline itself, which just came out,
7 published a couple of days ago, in one of the
8 recommendations, 1.3, neoadjuvant therapy should be
9 offered to patients with high-risk, HER2-positive,
10 or triple-negative disease so that the finding of
11 residual disease would guide recommendations.

12 I think we are in a situation where we have
13 data in HER2-positive disease with cathren
14 [indiscernible], but also we have data from CREATE-
15 X and the other Chinese studies recently published
16 in triple-negative disease, and it's actually -- I
17 didn't recognize it at first because it was called
18 KEYNOTE-242, which is a confirmatory study for the
19 one we are reviewing today. I actually know that
20 study as SWOG 1418, which is a post-neoadjuvant
21 randomization to pembrolizumab versus observation
22 in patients who don't reach a pathologic complete

1 response.

2 I think this shows us how we have learned
3 today to use the neoadjuvant setting not to try to
4 achieve pCR at any cost with more therapies, but
5 perhaps to use its information to guide what we do
6 afterwards.

7 The ASCO committee also mentioned about
8 carboplatin, and this is something
9 Dr. O'Shaughnessy touched on. It says that
10 carboplatin may be offered, and ASCO instruction is
11 to use -- may, must, or should, and in this case
12 it's "may", may be offered as a neoadjuvant, follow
13 the neoadjuvant's regimen, and the decision to
14 offer carboplatin should take into account the
15 balance of potential benefits and harms. And I
16 wonder whether this is where we are today with
17 checkpoint inhibitors.

18 Finally, a recommendation from the
19 committee, which was based on the publication last
20 year in the New England Journal of Medicine of the
21 first results of the KEYNOTE-522 study, is that
22 there is insufficient evidence to recommend

1 routinely adding the immune checkpoint inhibitors
2 to neoadjuvant chemotherapy.

3 I would say in response to Dr. Rugo and
4 agreeing with what Dr. Ellis mentioned, I think we
5 need to be careful not to discount published
6 externally reviewed data from randomized trials,
7 such as the data from the two Asian studies that
8 they have cited, and replace them with our
9 individual, anecdotal clinical experience of taking
10 care of patients in the U.S. with these drugs.

11 We have to remember that even if we want to
12 discount those experiences, here today we are being
13 asked to make recommendations about this new drug
14 in breast cancer based on incomplete data, immature
15 data, and information from interim analysis
16 number 3 that allows the DMC to recommend
17 continuation of the trial, and may be addressing
18 Dr. Hayes' concerns.

19 My understanding of how these things work
20 and perhaps what's happened here, I don't think the
21 DMC would have a role in opining on the decision by
22 the sponsor to ultimately submit an application or

1 not.

2 So I think the decision to give an
3 accelerated approval to pembrolizumab a number of
4 years ago was a difficult one, but we have to
5 remember that in that case, two therapies have very
6 established track records in advanced disease with
7 trastuzumab and pertuzumab with survival data and
8 also in the adjuvant setting with trastuzumab.

9 I think the question for many of us today is
10 whether the available data with checkpoint
11 inhibitors, and specifically pembrolizumab -- is
12 there two?

13 I recognize that most of these principles
14 that I'm discussing are based on what we think we
15 know about chemotherapy and about HER2 antibody
16 therapy, which in some ways is another form of
17 immunotherapy. But the reality, though, is that we
18 really don't know, to date, how to best use
19 checkpoint inhibitors in breast cancer so that one
20 day we can observe the astounding results that we
21 have seen with this class of drugs, and
22 pembrolizumab itself, in other solid tumors that,

1 thus far, seem to have eluded us in breast cancer.

2 And finally, I think we need to be cautious
3 and not minimize the toxicities that could be
4 life-altering for patients with early-stage breast
5 cancer that could potentially be cured with
6 standard therapy alone. And I am not at all
7 minimizing what a horrible disease this is,
8 especially when it comes back.

9 Those are my comments. Thank you.

10 DR. HOFFMAN: Dr. Kraus, let's give you an
11 opportunity.

12 DR. KRAUS: Okay. Thank you. Albert Kraus,
13 industry representative.

14 Yes. It's very interesting, and I agree
15 with all the comments about the varied input, and
16 it's very helpful, and it's very productive, and it
17 is a horrible disease. That's why we're here and
18 talking about accelerated approval, I think, and
19 hoping that we find more for patients.

20 The thing that strikes me is the design of
21 the study in neoadjuvant and adjuvant phases, and
22 the evaluation from Dr. Berry presenting the

1 predictivity of pCR, which would predict a lower
2 effect, as I was understanding, if it was
3 neoadjuvant therapy only. But the trial itself
4 merged neoadjuvant and adjuvant therapy, and the
5 data itself appears very promising at the interim 2
6 and 3; and from what I understand from FDA and the
7 company, is likely to be positive. Whether it's
8 two-thirds chance, a 75 percent chance, a
9 95 percent chance, depends on the assumptions, I
10 guess.

11 The dilemma perhaps, what we need to
12 discuss, is usually the surrogate endpoint is
13 isolated and then thought to predict in a certain
14 way on its own for approval. In this case, there's
15 that strong contribution of a longer term adjuvant
16 therapy that's not really captured in a pCR effect
17 but may be contributing to hazard ratio in a trial
18 that looks like it's going to be very productive
19 and hopefully very positive in the end.

20 So the dilemma, I think in part that we're
21 discussing, facing FDA is, in a way, it's leaning
22 on a nonstatistical threshold crossing result,

1 though it looks very promising, from an interim
2 analysis rather than a final statistical result, to
3 kind of contribute to an accelerated approval
4 decision, which indeed is a challenging one for the
5 Food and Drug Administration because of the desire
6 for substantial evidence.

7 That said, the patients have huge need,
8 they're dying, they need more, and this probably
9 helps. I'm not a physician. I work in the area of
10 course, but I would say for this committee's
11 discussion, it's very important to be balancing
12 what's reasonably likely to result in an ultimate
13 trial outcome of positivity and what's reasonably
14 likely to be a benefit counterbalanced by the
15 safety.

16 Because the question is, how many patients
17 would be saved between now and when we wait, or if
18 it's approved and if it doesn't work, and it has to
19 be removed, what was the toxicity and the problems
20 in deaths endured? And that's the balance, right?

21 So I'll stop there, but I'm just sharing my
22 thoughts on issue in the discussion.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Questions to Committee and Discussion

DR. HOFFMAN: Okay. I may not have labeled it correctly, but we were still just now having discussion about clarifying issues.

We'll proceed with the questions to the committee and panel discussion. And I, again, would like to remind public observers that while this meeting is open for public observation, public attendees may not participate, except at the specific request of the panel.

We're going to now move on to today's question, which is a voting question. Dr. She-Chia Chen will provide the instructions for the voting.

DR. CHEN: Thank you, Dr. Hoffman.

Question 1 is a voting question. The voting members will use the Adobe Connect platform to submit their votes for this meeting. After the chairperson has read the voting question into the record and all questions and discussion regarding the wording of the vote question are complete, the chairperson will announce that voting will begin.

If you are a voting member, you will be

1 moved to a breakout room. A new display will
2 appear where you can submit your vote. There will
3 be no discussion in the breakout room. You should
4 select a radio button that is a round circular
5 button in the window that corresponds to your vote,
6 yes, no, or abstain. You should not leave the "no
7 vote" choice selected.

8 Please note that you do not need to submit
9 or send your vote. Again, you need only to select
10 the radio button that corresponds to your vote.
11 You will have the opportunity to change your vote
12 until the vote is announced as closed. Once all
13 voting members have selected their vote, I will
14 announce the vote is closed.

15 Next, the vote results will be displayed on
16 the screen. I will read the vote results from the
17 screen into the record. Next, the chairperson will
18 go down the roster and each voting member will
19 state their name and their vote into the record.
20 You can also state the reason why you voted as you
21 did if you want to.

22 Are there any questions about the voting

1 process before we begin?

2 DR. WOLFF: I apologize. This Dr. Wolff.
3 I'm actually not sure if I'm seen the voting
4 button. I apologize if I should.

5 (No audible response.)

6 DR. HOFFMAN: I'm sorry --

7 DR. CHEN: So now we are going to -- go
8 ahead, Dr. Hoffman.

9 DR. HOFFMAN: Sorry. Go ahead.

10 Question 1. Should a regulatory decision on
11 pembrolizumab in combination with multi-agent
12 chemotherapy for neoadjuvant treatment, followed by
13 pembrolizumab monotherapy for adjuvant treatment of
14 high-risk, early-stage, triple-negative breast
15 cancer, be deferred until further data are
16 available from future analyses of KEYNOTE-522?

17 Are there any questions or comments about
18 the wording of the question? And if not, we'll
19 begin the vote.

20 (No response.)

21 DR. CHEN: We will now move voting members
22 to the voting breakout room to vote only. There

1 will be no discussion in the voting breakout room.

2 (Voting.)

3 DR. CHEN: The voting has closed and is now
4 complete. Once the vote result is displayed, I'll
5 read the vote result into the record.

6 (Pause.)

7 DR. CHEN: Voting has closed and is now
8 complete. The vote results are displayed. I'll
9 read the vote totals into the record.

10 There are 10 yeses, zero no, and zero
11 abstention. The chairperson will go down the list,
12 and each voting member will state their name and
13 their vote into the record. You can also state a
14 reason why you voted as you did if you want to.

15 DR. HOFFMAN: Thank you.

16 We'll now go down the list and have everyone
17 who voted state their name and vote into the
18 record. And as we said, you may also provide
19 justification for your vote if you wish to.

20 We'll start with Mr. Mitchell.

21 MR. MITCHELL: Yes. Thank you, Dr. Hoffman.

22 I voted yes. My name is David Mitchell.

1 I'm the consumer representative. But I'm a patient
2 with an incurable cancer, so I understand and
3 respect deeply the wishes of the patients who spoke
4 their wishes to have options, any options.

5 I'm fortunate with my cancer to have
6 options, but options must be safe and effective.
7 There has to be evidence that the benefits outweigh
8 the risks. That is not the case in this
9 application for accelerated approval today, and FDA
10 should not be approving drugs based on modeling;
11 only on actual data.

12 Although, especially for TNBC, time is of
13 the essence, the FDA should look at the data coming
14 later this year and ensure that this treatment will
15 be an option that helps patients rather than hurts
16 them.

17 DR. HOFFMAN: Okay. Thank you.

18 Dr. Portis?

19 (No response.)

20 DR. HOFFMAN: You can unmute yourself.

21 DR. COMPAGNI PORTIS: Oh. Can you hear me
22 now?

1 DR. HOFFMAN: Yes.

2 DR. COMPAGNI PORTIS: This is Dr. Natalie
3 Compagni Portis, and I voted yes. I'd like to
4 thank the panel, and FDA, and our sponsors for a
5 really robust discussion. And I thank you,
6 Dr. Pazdur, for saying that we need to make
7 decisions based not on guessing or hoping.

8 As the patient representative and someone
9 who was diagnosed with breast cancer at 35 and who
10 works with people with cancer every day, I know
11 there's a clear unmet need, especially for younger
12 women and African American women, and we absolutely
13 need better and more effective options. And there
14 are. There are very compelling reasons to wait,
15 and I'm a little baffled by why the rush here,
16 despite FDA saying let's wait for the complete
17 data.

18 We really have a responsibility to patients
19 to not prematurely offer treatment, and therefore
20 hope, when we don't have solid evidence and
21 benefit, and especially when we don't have evidence
22 of overall survival benefits. These are patients

1 that are already receiving a significant amount of
2 treatment that comes with long-term significant
3 side effects and great impact on quality of life,
4 and they're already dealing with toxicities from
5 the existing treatments.

6 I think we often confuse our patients with
7 regard to the relevance of PFS, and EFS, and in
8 this case pCR, and it's vital that without any
9 evidence of improved quality of life and with the
10 known lifelong serious risks here, and without that
11 evidence of overall survival, we really need to
12 wait until we have complete data. Thank you so
13 much.

14 DR. HOFFMAN: Okay. Thank you.

15 Dr. Armstrong?

16 DR. ARMSTRONG: Thank you. This is Deb
17 Armstrong. I will keep it short. I voted yes. I
18 hope this is a positive study, but I think that at
19 this point in time it's premature to start treating
20 patients with this therapy. Even under the intense
21 scrutiny of a clinical trial, almost 1 percent of
22 patients who were treated with this died as a

1 result of this treatment, and in the general
2 community, that rate of -- [inaudible - audio gap]
3 would likely be higher.

4 There is not another clear scenario with
5 triple-negative breast cancer, where in this same
6 population there is a clear benefit in terms of
7 survival for the addition of pembrolizumab. So I
8 think the most prudent thing and the thing that's
9 safest for our patients who clearly need new
10 therapeutic options is to make sure that we're not
11 giving them false hope or treating them with things
12 that can hurt them more than it can help them.

13 Thank you.

14 DR. HOFFMAN: Thank you.

15 Dr. Seidman?

16 DR. SEIDMAN: This is Dr. Andrew Seidman. I
17 also voted yes. I was not very impressed with the
18 increment in pCR rate, despite speculation that
19 perhaps a modest pCR rate could still be associated
20 with improved overall survival, perhaps due to the
21 adjuvant component or some speculative unique
22 biological effect, as was offered.

1 I do think the event-free survival data are
2 immature, and I also do hope we see further
3 separation, and convincing separation, of those
4 curves later this year. Despite comments about the
5 design, I don't have real significant concerns
6 about the foundation of chemotherapy used, and
7 hopefully there won't be great heterogeneity in the
8 adjuvant use of capecitabine.

9 In terms of the data in metastatic disease
10 that would support the application, I would
11 describe it as modest at best. If the toxicity
12 profile remains stable but the trial does
13 ultimately show a significant event-free survival,
14 I don't have any great concerns about the toxicity
15 profile.

16 DR. HOFFMAN: Okay. Thank you.

17 This is Philip Hoffman. I voted yes for a
18 few reasons. I actually do probably expect and
19 hope that this trial does turn out to be positive
20 with more mature data. I did find it of interest
21 that when it was published after the first interim
22 analysis, the difference between the pathologic CR

1 rates between the two arms was about 14 percent,
2 which is quite remarkable, and that it's now down
3 to 7 percent.

4 I think the notion that Dr. Zalani said at
5 the beginning, which we think it is reasonably
6 likely that this difference in path CR will lead to
7 an improvement in event-free survival and overall
8 survival, I'm sure that's accurate, but things do
9 change as they mature. So the fact that we're
10 seeing trends and signals toward improvement I
11 think doesn't mean that it couldn't change with
12 time.

13 I don't think we should underestimate the
14 safety either, as Dr. Armstrong noted. I use a lot
15 of immune checkpoint inhibitors in a different
16 clinical setting, and although I've seen some
17 spectacular clinical results, I've also had some
18 patients who can't enjoy one day of their
19 spectacular results because of significant
20 toxicity, constant steroid use, and so on.

21 So sorry for my voice, but I voted yes.

22 Next, Dr. Hayes?

1 DR. HAYES: This is Dan Hayes. I voted yes
2 for many of the same reasons. I was reassured,
3 frankly, by Mr. Mitchell and Dr. Portis' comments
4 as patients since I have not been a patient. I've
5 just been a doctor taking care of patients with
6 this disease.

7 I have also chaired several DSMCs, data
8 safety monitoring committees, and our job is always
9 to first protect the safety of the patients, and
10 second, protect the integrity of the clinical
11 trial. I think we owe it to the women who agreed
12 to be part of this trial to make sure that the
13 integrity of this science is maintained so that we
14 have a good answer when we're done, and I'm not
15 sure we do. I was not impressed that we're there
16 yet.

17 Finally, as Dr. Armstrong mentioned, on a
18 clinical trial, which is presumably some of the
19 best and most careful physicians in our field, it
20 was about a 1 percent mortality rate. And like
21 everyone else, I've seen patients have great
22 responses to these drugs. I've also had at least

1 one patient pass away from similar drugs.

2 So these are not benign drugs, and I hope,
3 like everyone else, this will be a positive study,
4 but I don't think we know that yet. Thank you.

5 DR. HOFFMAN: Thank you.

6 Dr. Lipkowitz?

7 DR. LIPKOWITZ: This is Stan Lipkowitz from
8 NCI, and I voted yes. Just to begin, because I
9 haven't spoken before, I want to thank all of the
10 presenters from the applicant, the FDA, and also
11 the public commentary on this drug.

12 As an oncologist who sees breast cancer
13 patients, I have not had cancer, but I do feel the
14 pain of all the patients of mine who passed away
15 from this disease, so I do agree that we need
16 better therapy for this disease. But like all of
17 the speakers before me in the last few minutes, I
18 feel that we need to have statistically significant
19 EFS and/or OS data before we approve a drug, and
20 that modeling is not sufficient for that.

21 I think we've heard repeatedly that the
22 relationship between pCR and outcome is tenuous at

1 best. We don't fully understand that. And worse
2 yet, in this case, there's adjuvant therapy between
3 the pCR and the EFS events, so that we really don't
4 have a good tie between the pCR result and what
5 that likely means for the EFS.

6 So for the reasons of not having convincing
7 or mature data for the event-free survival, and for
8 the toxicity, as you've just heard, including
9 fatalities, I really had to vote yes for this
10 question. Over.

11 DR. HOFFMAN: Okay. Thank you.

12 Dr. Wolff?

13 DR. WOLFF: This is Antonio Wolff. I voted
14 yes. As I said before, this is an incredibly tough
15 vote, and I say this because we're dealing with
16 people's lives. We have no right to take hope away
17 from people. I think all of us, and the
18 investigators, we are hoping that the study will be
19 a positive trial at the end of the day, the
20 decisions to launch the trial, the efforts
21 involved, and all the patients that have
22 participated. But at the same, we have an

1 obligation to temper and to measure our hope with
2 the evidence so that we can provide the best advice
3 we can to the best of our ability. And that is the
4 reason why I voted yes.

5 DR. HOFFMAN: Thank you.

6 Dr. Halabi?

7 DR. HALABI: Yes, Dr. Hoffman. This is
8 Susan Halabi. I want to also thank the presenters
9 and the speakers for a very robust and vivid
10 discussion today. Especially, I would like to
11 thank the FDA for the consolidated briefing
12 documents.

13 The reason why I voted yes is for several,
14 that other speakers before me have mentioned. I
15 think one of the most important in my mind was the
16 association between EFS and pathological CR. In
17 the meta-analysis that was performed by Cortazar,
18 they did show that there is a relationship at the
19 individual level but not at the trial level because
20 the R-squared was very small.

21 With regard to interim analysis 4, I think
22 that definitely with more events, it's very likely

1 that we're going to hit the boundary, but my
2 concern is one can never underplay the role of
3 chance. I think more importantly, as indicated in
4 the presentation, the magnitude of benefit as
5 measured by hazard ratio will probably tend to
6 increase towards 1.

7 Then finally -- and I think this is really
8 important, and I believe others speakers mentioned
9 that -- the integrity of the trial is really
10 important. It is important that we have mature
11 follow-up, and this would not jeopardize the trial
12 because, obviously, there is a huge and unmet need
13 for patients. For all of us, we have vested
14 interest to see positive results, and I hope it
15 will be positive. Thank you.

16 DR. HOFFMAN: Thank you.

17 Dr. Ellis?

18 DR. ELLIS: Yes. I guess I have the last
19 word, I suppose. I used the pembrolizumab standard
20 and put this data in that context; and, obviously,
21 I think we all agree that it was not as a
22 compelling story as that.

1 I would just like to close that as a cancer
2 survivor myself, I was deeply moved by the public
3 session and the sad display of suffering that was
4 observed there. I also would just like to comment
5 on the failure of the predicted biomarker.

6 This is not a disease we understand well,
7 and I think we need to go back to the drawing
8 board, to some extent, and work hard on molecular
9 profiling techniques that might get to a better
10 place when we're working out how to place a drug
11 like pertuzumab.

12 I too hope the next analyses produce a
13 convincing result. I don't think we should approve
14 drugs based on projections. We need solid evidence
15 to prescribe drugs, and I'll leave it at that.

16 DR. HOFFMAN: If I can briefly summarize, I
17 think it's clear that in the couple of years that
18 I've been on the ODAC, this is the first time that
19 I've encountered a voting question that basically
20 said should we defer this as opposed to the yes or
21 no, has the efficacy and safety been demonstrated;
22 so yes or no, we should approve.

