

UNITED STATES OF AMERICA  
DEPARTMENT OF HEALTH AND HUMAN SERVICES  
FOOD AND DRUG ADMINISTRATION

+ + +

CENTER FOR DEVICES AND RADIOLOGICAL HEALTH  
MEDICAL DEVICES ADVISORY COMMITTEE

+ + +

VIRTUAL PUBLIC WORKSHOP - ORTHOPEDIC DEVICE POSTMARKET REVIEW

+ + +

June 10, 2021  
8:30 a.m.

Via Videoconference

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

## WORKSHOP PARTICIPANTS:

CAPT RAQUEL PEAT, Ph.D., M.P.H.  
Director, Office of Health Technology 6 (OHT 6: Orthopedic Devices)  
Office of Product Evaluation and Quality  
CDRH/FDA

JON SPEER, B.S.  
Founder/Vice President, QA/RA  
Greenlight Guru

DANIELLE CRISTINO, Ph.D.  
Lead Reviewer, Shoulder Arthroplasty Devices Team  
Division of Joint Arthroplasty Devices (DHT 6A)  
Office of Health Technology 6 (OHT 6: Orthopedic Devices)  
Office of Product Evaluation and Quality  
CDRH/FDA

JAY KADAKIA, M.S.  
Lead Reviewer, Hip Arthroplasty Devices Team  
Division of Joint Arthroplasty Devices (DHT 6A)  
Office of Health Technology 6 (OHT 6: Orthopedic Devices)  
Office of Product Evaluation and Quality  
CDRH/FDA

JOSEPH RUSSELL, M.S.  
Lead Reviewer, Shoulder Arthroplasty Devices Team  
Division of Joint Arthroplasty Devices (DHT 6A)  
Office of Health Technology 6 (OHT 6: Orthopedic Devices)  
Office of Product Evaluation and Quality  
CDRH/FDA

TERESA PALACIOS-HERNANDEZ, Ph.D.  
Staff Fellow/Lead Reviewer/Biologist, Knee Arthroplasty Devices Team  
Division of Joint Arthroplasty Devices (DHT 6A)  
Office of Health Technology 6 (OHT 6: Orthopedic Devices)  
Office of Product Evaluation and Quality  
CDRH/FDA

SAHLEE SABALA, M.P.H.  
Regulatory Health Project Manager  
Office of Health Technology 6 (OHT 6: Orthopedic Devices)  
Office of Product Evaluation and Quality  
CDRH/FDA

CAROLE WOLFE, M.S.  
Lead Reviewer/Biomedical Engineer, Shoulder Arthroplasty Devices Team  
Division of Joint Arthroplasty Devices (DHT 6A)  
Office of Health Technology 6 (OHT 6: Orthopedic Devices)  
Office of Product Evaluation and Quality  
CDRH/FDA

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

JENNIFER HOUCK, M.S.

Lead Reviewer, Restorative, Repair, Trauma & Fracture Fixation Devices Team  
Division of Restorative, Repair and Trauma Devices (DHT 6C)  
Office of Health Technology 6 (OHT 6: Orthopedic Devices)  
Office of Product Evaluation and Quality  
CDRH/FDA

ALEXANDER RODRIGUEZ, M.S.

Lead Reviewer, Hip Arthroplasty Devices Team  
Division of Joint Arthroplasty Devices (DHT 6A)  
Office of Health Technology 6 (OHT 6: Orthopedic Devices)  
Office of Product Evaluation and Quality  
CDRH/FDA

STEPHANIE MATTHEWS, M.B.A.

Director, Field Action & Product Issue Escalations  
Hospital Medical Devices  
Johnson & Johnson

KARA DITTY-BOVARD, B.S.

Director, Health Authority Reporting  
Hospital Medical Devices  
Johnson & Johnson

RICHARD F. SEIDEN, J.D.

Patient Advocate

TING SONG, Ph.D., RAC

Assistant Director, Knee Arthroplasty Devices Team  
Division of Joint Arthroplasty Devices (DHT 6A)  
Office of Health Technology 6 (OHT 6: Orthopedic Devices)  
Office of Product Evaluation and Quality  
CDRH/FDA

JOHN GOMES, M.S.

Lead Reviewer  
Division of Restorative, Repair and Trauma Devices (DHT 6C)  
Office of Health Technology 6 (OHT 6: Orthopedic Devices)  
Office of Product Evaluation and Quality  
CDRH/FDA

LINDA BRADDON, Ph.D.

President  
Secure BioMed Evaluations

JAMES N. WALKER, B.S.

Assistant Director, Recalls and Shortages Team  
Office of Regulatory Programs  
Office of Product Evaluation and Quality  
CDRH/FDA

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

MICHELLE RIOS, M.S.  
Assistant Director, Medical Device Reporting Team  
Office of Regulatory Programs  
Office of Product Evaluation and Quality  
CDRH/FDA

JENNIFER HARRIS, B.S.  
Director, Quality Assurance  
Acumed/OsteoMed

ERIKA GUTHRIE, M.S.  
Director, Quality  
Acumed/OsteoMed

NILSA LOYO-BERRIOS, Ph.D., M.Sc.  
Deputy Director, Division of Clinical Science and Quality  
Office of Clinical Evidence and Analysis  
Office of Product Evaluation and Quality  
CDRH/FDA

RUSSELL A. SCHENCK, Ph.D.  
Director, Clinical Affairs  
Zimmer Biomet

CAROLINA ALVAREZ-GARRIGA, M.D., Dr.PH.  
Medical Epidemiologist  
Restorative, Repair, and Trauma/ Fracture Fixation Devices Team (DHT 6C)  
Office of Health Technology 6 (OHT 6: Orthopedic Devices)  
Office of Product Evaluation and Quality  
CDRH/FDA

HONGYING JIANG, Ph.D., RAC  
Health Scientist/Safety Signal Manager  
Office of Health Technology 6 (OHT 6: Orthopedic Devices)  
Office of Product Evaluation and Quality  
CDRH/FDA

VICTORIA LILLING, M.D.  
Medical Officer, Shoulder Arthroplasty Devices Team  
Division of Joint Arthroplasty Devices (DHT 6A)  
Office of Health Technology 6 (OHT 6: Orthopedic Devices)  
Office of Product Evaluation and Quality  
CDRH/FDA

PAUL COPLAN, Sc.D., M.B.A.  
Vice President, Epidemiology and Real-World Data Sciences, Medical Devices  
Johnson & Johnson

MICHAEL OWENS, M.S., RAC  
Assistant Director, Division of Joint Arthroplasty Devices (DHT 6A)  
Office of Health Technology 6 (OHT 6: Orthopedic Devices)  
Office of Product Evaluation and Quality  
CDRH/FDA

HONG CHENG, M.D., Ph.D., M.P.H.  
Lead Health Scientist  
Office of Clinical Evidence and Analysis  
Office of Product Evaluation and Quality  
CDRH/FDA

VERONICA SANSING-FOSTER, Ph.D., M.S.  
Senior Epidemiologist  
Office of Surveillance and Epidemiology  
Office of Product Evaluation and Quality  
CDRH/FDA

LCDR JACOB DYER, PE, PMP  
Senior Regulatory Officer  
Regulatory Inspections and Audits Team  
Office of Regulatory Programs  
Office of Product Evaluation and Quality  
CDRH/FDA

ZHIJIANG HE, Ph.D.  
Lead Reviewer, Knee Arthroplasty Devices Team  
Division of Joint Arthroplasty Devices (DHT 6A)  
Office of Health Technology 6 (OHT 6: Orthopedic Devices)  
Office of Product Evaluation and Quality  
Division of Joint Arthroplasty Devices  
CDRH/FDA

SITA MODALI, Ph.D.  
Lead Reviewer, Knee Arthroplasty Devices Team  
Division of Joint Arthroplasty Devices (DHT 6A)  
Office of Health Technology 6 (OHT 6: Orthopedic Devices)  
Office of Product Evaluation and Quality  
CDRH/FDA

SHUMAYA ALI, M.P.H.  
Assistant Director, Stereotaxic Bone Growth Stimulators and Fracture Fixation Devices Team  
Division of Restorative, Repair and Trauma Devices (DHT 6C)  
Office of Health Technology 6 (OHT 6: Orthopedic Devices)  
Office of Product Evaluation and Quality  
CDRH/FDA

DHARMESH PATEL, M.B.A.  
Assistant Director  
PMA, HDE, Q-sub and Device Life Cycle Tracking Team  
Division of Submission Support

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

Office of Regulatory Programs  
Office of Product Evaluation and Quality  
CDRH/FDA

JHUMUR D. BANIK, M.S.  
Policy Analyst, Biomedical Engineer  
PMA, HDE, Q-sub and Device Life Cycle Tracking Team  
Division of Submission Support  
Office of Regulatory Programs  
Office of Product Evaluation and Quality  
CDRH/FDA

ERIC HOROWITZ, M.S.  
Quality System and Compliance Expert  
Office of Regulatory Programs

Office of Product Evaluation and Quality  
CDRH/FDA

WILLIAM C. JUNG, Ph.D.  
Director, Division Joint Arthroplasty Devices (DHT 6A)  
Office of Health Technology 6 (OHT 6: Orthopedic Devices)  
Office of Product Evaluation and Quality  
CDRH/FDA

## INDEX

	PAGE
WELCOME AND OPENING REMARKS - CAPT Raquel Peat, Ph.D., M.P.H.	10
SESSION 1: RECALL AND MEDICAL DEVICE REPORTING (MDR)	
PRESENTATIONS	
Recalls Overview and Requirements - Danielle Cristino, Ph.D.	14
Recalls Best Practices -	
Jay Kadakia, M.S.	23
Joseph Russell, M.S.	29
Recalls Analysis and Trends - Teresa Palacios-Hernandez, Ph.D.	33
MENTI SURVEY - Sahlee Sabala, M.P.H.	36
PRESENTATIONS	
MDRs Overview and Requirements - Carole Wolfe, M.S.	39
MDRs Best Practices - Jennifer Houck, M.S.	46
MDRs Analysis and Trends - Alexander Rodriguez, M.S.	51
Industry Perspective on MDRs and Recalls -	
Stephanie Matthews, M.B.A.	58
Kara Ditty-Bovard, B.S.	61
Patient Perspective on MDRs and Recalls - Richard Seiden, J.D.	65
GROUP DISCUSSION	72
Moderators: John Gomes, M.S. and Linda Braddon, Ph.D.	
James N. Walker, B.S.	
Michelle Rios, M.S.	
Richard Seiden, J.D.	
Stephanie Matthews, M.B.A.	
Kara Ditty-Bovard, B.S.	
Jennifer Harris, B.S.	
Erika Guthrie, M.S.	
MENTI SURVEY - Ting Song, Ph.D., RAC	84

## INDEX

	PAGE
SESSION 2: POST-APPROVAL STUDY (PAS) AND POSTMARKET SURVEILLANCE (522) STUDIES	
PRESENTATIONS	
PAS and 522 Studies Overview and Requirements - Carolina Alvarez-Garriga, M.D., Dr.PH.	87
PAS and 522 Studies Best Practices - Hongying Jiang, Ph.D., RAC	94
Real World Evidence Case Studies - Victoria Lilling, M.D.	99
Industry Perspective on PAS and 522 Studies - Paul Coplan, Sc.D., M.B.A.	107
MENTI SURVEY - Michael Owens, M.S., RAC	112
GROUP DISCUSSION	114
Moderators: Nilsa Loyo-Berrios, Ph.D., M.Sc. and Russell A. Schenck, Ph.D.	
Hongying Jiang, Ph.D., RAC Carolina Alvarez-Garriga, M.D., Dr.PH. Hong Cheng, M.D., Ph.D., M.P.H. Veronica Sansing-Foster, Ph.D., M.S. Paul Coplan, Sc.D., M.B.A.	
SESSION 3: INSPECTIONS AND POSTMARKET APPROVAL REPORTING	
PRESENTATIONS	
Medical Device Single Audit Program - LCDR Jacob Dyer, PE, PMP	126
Inspections and Establishment Inspection Report - John Gomes, M.S.	132
Premarket Approval Manufacturing Quality System Review - Zhijiang He, Ph.D.	140
30-Day Notice vs. Annual Report -	
Ting Song, Ph.D., RAC	144
Sita Modali, Ph.D.	147
Industry Perspective on Inspections and Good Manufacturing Practice Review - Jennifer Harris, B.S. and Erika Guthrie, MS.	152
Free State Reporting, Inc. 1378 Cape Saint Claire Road Annapolis, MD 21409 (410) 974-0947	

## INDEX

	PAGE
GROUP DISCUSSION	157
Moderators: Shumaya Ali, M.P.H. and Jon Speer, B.S.	
Dharmesh Patel, M.B.A.	
Jhumur D. Banik, M.S.	
Eric Horowitz, M.S.	
LCDR Jacob Dyer, PE, PMP	
Stephanie Matthews, M.B.A.	
Jennifer Harris, B.S.	
Erika Guthrie, M.S.	
CLOSING REMARKS - William C. Jung, Ph.D.	166
ADJOURNMENT	168

1 MEETING

2 (8:30 a.m.)

3 DR. PEAT: Good morning, everyone, and thank you very much for joining the  
4 Orthopedic Device Postmarket Review Public Workshop.

5 My name is Captain Raquel Peat, Director of the Office of Health Technology 6,  
6 which is the Office of Orthopedic Devices in the Center for Devices and Radiological Health.

7 This meeting is occurring during unprecedented times. The COVID-19 pandemic has  
8 challenged all of us for over a year. For FDA, that has meant tirelessly working to help bring  
9 diagnostic devices, personal protective equipment, and therapeutics to patients as quickly  
10 as possible. Our staff across the Center are very busy with COVID-related work, as staff in  
11 the Office of Orthopedic Devices have been assisting other offices with COVID work while  
12 equally maintaining our orthopedic premarket and postmarket work activities.

13 Last year we held a public workshop, the first virtual workshop in the Center during  
14 the pandemic, that discussed spinal device premarket review and in an effort, this spinal  
15 device premarket review workshop was held to be more transparent in enhancing public  
16 understanding of FDA's review of data and information submitted to support premarket  
17 authorizations for spinal devices.

18 We gather today in this public workshop to share knowledge pertaining to FDA's  
19 postmarket programs and activities in the regulation of orthopedic devices.

20 A main reason for these external collaborations is to enhance CDRH's mission, which  
21 is to protect and promote the public health. In our mission, we are to assure that patients  
22 and providers have timely and continued access to safe, effective, and high-quality medical  
23 devices and radiation imaging products. We facilitate medical device information by  
24 advancing regulatory science, providing industry with predictable, consistent, transparent  
25 and an efficient regulatory pathway, and assuring consumer confidence in devices marketed

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 in the United States. While supporting our mission, the vision of our Center is patients in  
2 the United States have access to high-quality, safe and effective medical devices of public  
3 health importance first in the world. Therefore, patients are the heart of what we do each  
4 and every day as public servants.

5 As the Center for Devices and Radiological Health makes way through the total  
6 product life cycle, also called TPLC, and in our transformation as a TPLC office, we would like  
7 to share our experience of well-rounded regulatory programs that impact TPLC. Our  
8 Center's effort to create a cycle of knowledge in premarket to postmarket that includes  
9 compliance and surveillance back to premarket would result in improved medical devices  
10 based on real-world evidence learned through the devices' life cycle.

11 The objective of this workshop is to provide an overview and description of the  
12 requirements related to recalls, medical device reporting, post-approval and postmarket  
13 surveillance 522 studies and postmarket approval reporting, as well as FDA's inspection.  
14 The main objective of this public workshop is to improve public understanding of medical  
15 device postmarket programs and requirements related to orthopedic devices.

16 Throughout the day you will hear directly from the review staff from the Office of  
17 Health Technology 6, the Office of Orthopedic Devices, the Office of Regulatory Programs,  
18 and the Office of Clinical Evidence and Analysis, as well as industry perspectives. Presenters  
19 will discuss potential strategies to address challenges in these areas, and ongoing analysis  
20 and trends, to allow transparency into FDA's processes and decision making to better aid  
21 orthopedic device stakeholders to strategize and implement various corrective actions and  
22 design changes. I hope that you will find our presentations and discussions informative and  
23 that it will serve as a platform to promote and protect public health.

24 I would like to acknowledge the outstanding leadership of Dr. William Jung and  
25 thank the entire FDA team, including staff from the Office of Orthopedic Devices, the Office

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 of Clinical Evidence and Analysis, the Office of Regulatory Programs, and the Office of  
2 Communication and Education, in particular, FDA studios and other FDA contributors. In  
3 addition, we are grateful for the contributions of our external collaborators and we greatly  
4 appreciate your attendance and participation in today's meeting.

5 I would like to introduce Mr. Jon Speer, who will be the master of ceremonies today  
6 to facilitate our presentations and discussions. Jon Speer is both the founder of Greenlight  
7 Guru, a medical device quality management software solution, and a medical device guru  
8 with over 22 years of industry experience in a variety of roles including product  
9 development, project management, quality and regulatory. Jon knows the best medical  
10 device companies in the world use quality as an accelerator. Jon is a thought leader,  
11 speaker, and regular contributor to industry leading publications like *MedTech Intelligence*,  
12 *Quality Digest*, *MD+DI* and more, in addition to his contributions of hundreds of free  
13 content resources.

14 Thank you, Jon, for your willingness to participate as MC for today's event, and I will  
15 turn the meeting over to him. Thank you and enjoy the rest of the meeting.

16 MR. SPEER: Thank you, Captain Peat, for that introduction and kind words. And  
17 good morning to you all and welcome to the FDA orthopedic device postmarket review  
18 workshop.

19 My name is Jon Speer and I am the founder of Greenlight Guru. I've spent my entire  
20 professional career in the medical device industry in various roles ranging from product  
21 development to quality to regulatory, and I am honored to be the master of ceremonies for  
22 today.

23 Let me give you a brief background about today's workshop. This workshop is  
24 intended to enhance public understanding of FDA's postmarket activities related to the  
25 regulation of orthopedic devices under 21 C.F.R. Part 888. The purpose of the workshop is

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 to share information with stakeholders, including members of the orthopedic community,  
2 device manufacturers, regulatory affairs professionals, clinicians, patients, and the general  
3 public on FDA regulations, guidance, and programs related to orthopedic device postmarket  
4 activities and challenges that are commonly faced in this area. This public workshop seeks  
5 to share knowledge pertaining to FDA's postmarket programs and activities in the  
6 regulation of orthopedic devices.

7 The goal is to provide an overview and description of the requirements related to  
8 recalls, medical device reporting, post-approval and postmarket surveillance studies,  
9 inspections, and postmarket approval reporting. The main objective of this workshop is to  
10 improve public understanding of medical device postmarket programs and regulatory  
11 requirements related to orthopedic devices. This workshop considers the role of benefit  
12 and risk in FDA decision making and mitigation measures for minimizing these risks. The  
13 public workshop is not intended to communicate any new policies or processes.

14 The topics for discussion will include recalls, MDRs, post-approval and postmarket  
15 surveillance studies, inspections, and postmarket approval reporting. Presenters will  
16 discuss potential strategies to address challenges in these areas and ongoing analysis and  
17 trends to help orthopedic device stakeholders strategize and implement various corrective  
18 actions and design changes.

19 We will have three sessions throughout the day and each session will have  
20 contributions from FDA and from industry, as well as a group discussion. Session 1 covers  
21 recalls and medical device reporting, Session 2 covers post-approval studies and postmarket  
22 surveillance studies, and then Session 3 will cover inspections and postmarket approval  
23 reporting.

24 Let's dive into Session 1. During this first session, several speakers will be covering  
25 aspects of recall and medical device reporting. Our first presenter will be covering recalls

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 overview and requirements and will be presented by Danielle Cristino of the FDA.  
2 Dr. Cristino has been serving as the lead reviewer in the Division of Joint Arthroplasty  
3 Devices within the Office of Health Technology 6 at FDA since 2020. She holds a bachelor  
4 degree in mechanical engineering with a concentration in bioengineering from Rowan  
5 University, and a Ph.D. in biomedical engineering from Virginia Tech. Dr. Cristino is a staff  
6 fellow with expertise in injury biomechanics and mechanical testing of orthopedic implants.  
7 She has experience reviewing various joint arthroplasty devices for both pre- and  
8 postmarket submissions.

9 DR. CRISTINO: Good morning, my name is Danielle Cristino and I'm a lead reviewer  
10 on the Shoulder Arthroplasty Devices Team in the Division of Joint Arthroplasty Devices in  
11 the OHT 6.

12 We'll begin with an overview of recalls and their requirements. This presentation  
13 serves as a refresher of recall contents and the recall process. I'll begin by defining what a  
14 recall is. I'll also discuss when to report a recall, relevant regulations, recall strategy and  
15 communication, and the responsibilities of the firm and the FDA throughout the process.

16 Recalls should occur when a medical device is determined to be defective and when  
17 it could be a risk to health. They involve a removal or correction of a marketed product  
18 that's in violation of the Federal Food, Drug, and Cosmetic Act.

19 A recall is a voluntary action that can be taken at any time by a firm to protect the  
20 public health and well-being from products that present a risk of injury or a gross deception  
21 or are otherwise defective.

22 A recall is an alternative to an FDA-initiated court action for removing or correcting  
23 violative products through seizure or import detention. Legally, the FDA can require a firm  
24 to recall a device that's associated with significant health problems or death, if the firm  
25 refuses to do so. This is a rare occurrence.

1           Here are a few definitions for recalls. A violative product does not meet the  
2 applicable regulatory and statutory laws within the scope of a recall. Typically, medical  
3 device recalls occur because the device is either misbranded or adulterated.

4           A device is considered misbranded if its labeling is false and misleading; its labeling is  
5 incomplete, for example, the device name is incorrect or contraindications are missing; its  
6 labeling does not have adequate directions for use, or it's sold or distributed for uses in  
7 violation of cleared indications or device regulations.

8           A device is considered adulterated if it's subject to a performance standard and does  
9 not comply with all the requirements of the standard; it's a Class III device and fails to  
10 conform to the requirements for an approved PMA or a notice of completion of a product  
11 development protocol; it's a banned device; it's in violation of good manufacturing practice  
12 requirements or it fails to comply with an IDE.

13           FDA classifies recalls according to the relative degree of health hazard presented by  
14 the product.

15           For a Class I recall, there is a reasonable probability that the use of or exposure to a  
16 violative product will cause serious adverse health consequences or death. We define a  
17 serious adverse health consequence as any significant adverse experience including those  
18 that may be either life-threatening or involve permanent or long-term injuries, but  
19 excluding injuries that are non-life threatening and that are temporary and reasonably  
20 reversible.

21           A Class II recall is the most common classification for orthopedic devices. In these  
22 cases, exposure to or use of the product may cause temporary or medically reversible  
23 adverse health consequences or the probability of a serious adverse health consequence is  
24 remote.

25           Finally, a Class III recall is not likely to cause adverse health consequences.

1           There are several ways FDA can become aware of a recall. Most commonly, an 806  
2 report is voluntarily submitted by a firm. FDA may also become aware of a recall through  
3 FDA inspections, including:

- 4           • Directed or routine inspections; allegations such as consumer or trade  
5           complaints;
- 6           • Adverse event reports like MedWatch, MedSun, or MDRs;
- 7           • OHT reviews;
- 8           • Mutual recognition agreements with foreign regulatory authorities;
- 9           • Information received by a firm, repackager, or distributor, and from  
10          competitors.

11          Once the firm has determined that the reason for their action is to reduce a health  
12 risk or remedy a violation, the report must be submitted to FDA within 10 working days  
13 from the time the firm initiates the correction or removal. The firm should submit to their  
14 division recall coordinator or DRC. When there is a not a risk to health involved, a report to  
15 FDA is not required, but the firm must keep a record of the correction or removal.

16          There are several cases in which firms are exempt from reporting. These include  
17 cases where an action is taken to improve performance or quality that does not reduce risk  
18 or mitigate a failure or noncompliance; a correction or removal that is part of regularly  
19 scheduled preventative maintenance, including the replacement of parts at the end of their  
20 normal life expectancy and repairs of an unexpected nature.

21          Another exemption includes a market withdrawal involving a minor violation that  
22 would not be submitted to legal action by the FDA or involving no violation, for example,  
23 normal stock rotation practices or obsolescence. Stock recovery of devices is also exempt  
24 when the device has not been marketed or has not left the direct control of the  
25 manufacturer.

1           Finally, safety alerts are also exempt from reporting. A safety alert is a notification  
2 that the use of the device may, in certain circumstances, pose a risk of substantial harm  
3 from situations such as misuse, failure to follow labeling directions, or connection to other  
4 devices.

5           When a firm is reluctant to recall, FDA may take several actions, including:

- 6           • A discussion of our evaluation of risk with the firm;
- 7           • Public notification;
- 8           • A mandatory recall;
- 9           • Seizing the product;
- 10          • Injunctions;
- 11          • Placing the firm on import alert; and
- 12          • Foreign country notification.

13          This slide presents some regulations that are relevant to recalls. Twenty-one  
14 C.F.R. 7, Enforcement Policy, provides guidance for firms to conduct an effective recall. This  
15 regulation sets forth specific recall procedures for FDA to monitor recalls and assess the  
16 adequacy of the firm's effort.

17          Twenty-one C.F.R. 806, Medical Device Reports of Corrections and Removals,  
18 describes the reporting requirements for any correction or removal of a medical device as a  
19 part of a recall.

20          Finally, 21 C.F.R. 810, Medical Device Recall Authority, describes the procedures the  
21 FDA will follow in exercising its recall authority. In rare situations where the manufacturer  
22 or importer fails to voluntarily recall a device that poses a risk to health, FDA may issue a  
23 recall order to the manufacturer under 21 C.F.R. 810.

24          Let's take a closer look at the information described in 21 C.F.R. 806, starting with  
25 some definitions. A correction involves the repair, modification, adjustment, relabeling,

1 destruction or inspection of a device without its physical removal from its point of use to  
2 some other location. This includes further patient monitoring or monitoring for specific  
3 signs and symptoms.

4 A removal involves similar actions such as a repair or modification of the device;  
5 however, the device is physically removed from its point of use to some other location.

6 Under 21 C.F.R. 806, a risk to health can mean two things. The first option is there is  
7 a reasonable probability that the use of or exposure to the product will cause serious health  
8 consequences or death. The second option is that the use of or exposure to the product  
9 may cause temporary or medically reversible adverse health consequences or an outcome  
10 where the probability of serious adverse health consequences is remote.

11 Under 21 C.F.R. 806, there are several mandatory items that must be reported.

12 These include:

- 13 • The report number using FAI or registration number;
- 14 • The name, address and telephone number of the manufacturer or importer.  
15 We recommend including e-mail addresses as, well.
- 16 • Device identification including the brand name and the common name,  
17 classification name or usual name of the device and the intended use of the  
18 device;
- 19 • The marketing status and associated premarket device numbers or a device  
20 listing number;
- 21 • The model, catalog or code number of the device and the manufacturing lot or  
22 serial number of the device or other relevant identification;
- 23 • A description of the events and the corrective or removal actions that have  
24 been and are expected to be taken;
- 25 • Information about illness or injuries associated with device use and any related

- 1 MDRs;
- 2 • The total number of devices manufactured and distributed and the number per
- 3 batch or lot.
- 4 • You should also specify if any were implanted.
- 5 • The date of manufacture or distribution and the device's expiration date or
- 6 expected life;
- 7 • The names, addresses and telephone numbers of all domestic and foreign
- 8 consignees, and the dates and number of devices distributed to each such
- 9 consignee;
- 10 • A copy of all communications regarding the correction or removal; and finally
- 11 • If any required information is not immediately available, a statement as to why
- 12 it's not available and when it will be submitted.

13 During a recall, a firm's responsibilities are to

- 14 • Determine the need for a recall and scope;
- 15 • Conduct a risk assessment and root cause analysis;
- 16 • Submit a report of correction or removal, also known as an 806 package;
- 17 • Execute appropriate recall actions and strategy. This includes developing
- 18 corrective and preventative actions to fix the problem and prevent future
- 19 occurrence;
- 20 • Notifying all consignees;
- 21 • Developing a plan for destruction or reconditioning and conducting
- 22 effectiveness checks.

23 The firm should also provide status reports to FDA, improve product quality for the

24 future, and require termination of the recall when they have completed their activities and

25 heard from their consignees.

1           Let's dive deeper into the recall strategy. A recall strategy is a planned course of  
2 action that addresses the depth of the recall, the need for public warnings, and the extent  
3 of effectiveness checks for the recall. Please note that effectiveness checks are something  
4 you do to document that the appropriate person received the notice and acted  
5 appropriately.

6           The recalling firm should develop a strategy that considers the following factors:

- 7           • Results of a health hazard evaluation used in identifying the product;
- 8           • The degree to which the product's deficiency is obvious to the consumer or  
9 user;
- 10          • The degree to which the products remain unused in the marketplace; and
- 11          • Continued availability of essential products.

12          The FDA will review the adequacy of a proposed recall strategy and recommend  
13 changes as appropriate; however, the firm should not delay initiation of a recall pending  
14 review of its recall strategy.

15          Here's more information on what to include when notifying consignees. You should  
16 include the correct title that clearly states the notification is for a recall. The notification  
17 letter should fully identify the product in their possession that is the subject of the recall.  
18 You should describe the reason for the recall and the risks to health, preferably within the  
19 first paragraph. You should also indicate that further distribution or use should cease  
20 immediately. Direct accounts should notify customers who receive the product where  
21 appropriate. The letter should also include instructions on what to do with the product.  
22 Finally, it's helpful to remind customers to submit medical device reports, or MDRs, to FDA  
23 and to provide a link to the MDR submission portal.

24          Please note that the notification letter should not contain irrelevant qualifications,  
25 promotional materials, or any other statement that might detract from the message.

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1           During a recall, FDA has several responsibilities. This chart shows an overview of  
2 how FDA and industry work together during a recall. The Office of Regulatory Affairs, or  
3 ORA, serves as a liaison to industry and plays a key role in developing the 806 package.  
4 Once ORA is notified of a recall, they review the 806 package, gather additional information,  
5 provide a recommendation, conduct audit checks, review status reports, and terminate  
6 Class II and Class III recalls.

7           The Center for Devices and Radiological Health, or CDRH, has several responsibilities  
8 during a recall. These include evaluating risk to classify the recall, evaluating the strategy,  
9 and determining the effectiveness and audit check levels. They also conduct shortage  
10 assessments as warranted, determine if press is needed, and identify additional follow-up  
11 actions or concerns.

12           Here's a closer look at the role of CDRH during a recall. When ORA provides the 806  
13 package to CDRH, the recall is assigned to a lead reviewer to coordinate the clinical and  
14 technical review process. CDRH determines whether the 806 package is adequate or if  
15 additional information is needed from the firm.

16           The reviewer must work promptly with the medical officer to classify the recall.  
17 Typically, this is accomplished by identifying a comparable recall as a precedent or using a  
18 policy to directly classify the recall based on sufficient historical precedent. If those two  
19 options are not possible, a health hazard evaluation, or HHE, is needed to classify the recall.  
20 CDRH must quickly determine if the recall is Class I, which poses the greatest risk to public  
21 health. Otherwise, the process for a Class II or III recall is followed.

22           In addition to classifying the recall, CDRH reviews the firm's recall strategy and  
23 communication. The recall classification recommendations and results of the review are  
24 communicated back to ORA, who continues to work with the firm to close the recall.  
25 Throughout the review, OHT 6 collaborates with the firm, ORA, and the Recalls and

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 Shortages Teams in the Office of Regulatory Programs in CDRH, to keep them in the loop  
2 regarding any communication on the recall. CDRH is working to streamline the recall review  
3 process to ensure that its classification is communicated to the public promptly following  
4 receipt of recall information.

5 A recall will be terminated when FDA determines that all reasonable efforts have  
6 been made to remove or correct the product in accordance with the recall strategy. A recall  
7 is terminated when it is reasonable to assume that the product has been removed and  
8 proper disposition or correction has been made commensurate with the degree of hazard of  
9 the recalled product.

10 A firm may request termination of its recall by submitting a written request to its  
11 DRC stating that the recall is effective and by providing the most recent recall status report  
12 and a description of the disposition of the recalled product. Written notification that a  
13 recall is terminating will be issued by its DRC to the recalling firm.

14 This slide shows some of the places on [fda.gov](http://fda.gov) that recalls are posted and can be  
15 searched.

16 This slide lists several resources for recalls, including the relevant regulations,  
17 general information on FDA's website, and several guidance documents.

18 In conclusion, we recommend following the requirements in 21 C.F.R. 806 and  
19 reporting in a timely manner. Submitting adequate and accurate information will help FDA  
20 classify the recall risk and evaluate the recall strategy and communication. This will require  
21 continued collaboration between firms and FDA to ensure that all appropriate steps are  
22 taken to mitigate risk to patients.

23 Thank you, and this concludes my presentation.

24 MR. SPEER: Thank you, Dr. Cristino.

25 I want to remind you all, if you have questions and comments throughout, you can

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 submit those to an e-mail address. Let me read that e-mail address for you. It is  
2 oht6-feedback@fda.hhs.gov. Again that's oht6-feedback@fda.hhs.gov.

3 Let's move on to our next presenter -- presenters, excuse me, and the topic is recalls  
4 best practices, and this will be presented by Jay Kadakia and Joseph Russell, both Jay and  
5 Joseph are with FDA. Let me give some introductions for both of our presenters.

6 Jay Kadakia has been serving as lead reviewer in the Division of Joint Arthroplasty  
7 Devices, Hip Arthroplasty Devices Team, within the Office of Health Technology 6, the Office  
8 of Orthopedic Devices at FDA since 2018. He received his master's in biomedical  
9 engineering from Perdue University, and bachelor's in biomedical engineering from Rutgers  
10 University. Mr. Kadakia is a biomedical engineer with experience in reviewing various joint  
11 arthroplasty devices, with specialized experience in additive manufacturing and magnetic  
12 resonance compatibility reviews. Mr. Kadakia has experience in both premarket and  
13 postmarket compliance submissions including reviewing recalls, medical device reports,  
14 established inspection reports, and allegations. Mr. Kadakia received various awards  
15 related to premarket and postmarket reviews at the office and center level.

16 Our other presenter is Joseph Russell. Joseph Russell has been serving as a lead  
17 reviewer in the Division of Joint Arthroplasty Devices, Shoulder Arthroplasty Devices Team  
18 within the Office of Health Technology 6, Office of Orthopedic Devices at FDA since 2020.  
19 He received both his master's and bachelor's in bioengineering from the University of  
20 Maryland in College Park. Mr. Russell is a general engineer with experience in reviewing  
21 various joint arthroplasty devices, with experience in mechanical testing. Mr. Russell  
22 routinely reviews both premarket and postmarket compliance submissions, including  
23 recalls. Mr. Russell has prior industry involvement in mechanical design, design controls,  
24 and risk management activities.

25 MR. KADAKIA: Good morning, my name is Jay Kadakia and I am a lead reviewer in  
Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 the Hip Arthroplasty Devices Team in the Division of Joint Arthroplasty Devices in OHT 6. I  
2 am presenting today with Joseph Russell, a leader reviewer in the Shoulder Arthroplasty  
3 Devices Team. We appreciate the opportunity to share with you tips and recommendations  
4 for best practices when conducting a recall. Please note, these are not an all-inclusive list.

5 In this slide I will recap the firm's responsibilities when it comes to recalls. Firms  
6 should investigate root cause and identify the scope to ensure all affected products are  
7 recalled and avoid the need to initiate another recall for the same issue. In OHT 6 we have  
8 referred to this as one-and-done approach.

9 Firms should develop the right strategy to ensure the actions make sense and  
10 prevent the affected products from reaching patients.

11 Firms should develop appropriate CAPAs, or corrective actions, to address root cause  
12 and minimize the same issue from reoccurring. This is also important in ensuring the  
13 corrective actions are effective in correcting the problem and do not lead to new  
14 unexpected failures or new risks.

15 Firms should communicate to all consignees, for example, hospitals and distribution  
16 centers, that have received the affected products and provide adequate instructions and  
17 communication of risks and potential harm of the device to ensure proper actions are taken  
18 to mitigate any potential risks.

19 Firms should develop a plan for what to do with a device once it is returned for  
20 appropriate disposition of affected products.

21 Firms should conduct checks to ensure the strategy is effective.

22 Please submit the 806 report to the Office of Regulatory Affairs Medical Device  
23 Division to notify the Agency of the correction or removal. The report can be sent via e-mail  
24 or by using the e-submitter. After the notification, continue to provide status reports to the  
25 Agency. And once all responsibilities are complete, be sure to request a recall termination.

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1           In the rest of this presentation we will cover some, but not all, of these points in  
2 more detail to provide recommendations and best practices in reporting, and share  
3 examples of where inadequacies have been observed by OHT 6. The intent is to share these  
4 best practices to help improve the overall quality of devices.

5           First, we will discuss scope, as it can drive the strategy and the rest of the recall  
6 process. Scope determination is essential to ensure all affected products are identified and  
7 proper actions are taken to prevent any adverse events to patients.

8           In the 806 report, it is recommended to provide adequate information to describe  
9 how the problem was discovered and how the firm has investigated the issue to determine  
10 the correct scope. For example, it is expected that the firm will do a systemic review of  
11 complaints or device history records, depending on potential root cause, to determine the  
12 appropriate scope. Based on the investigation, be sure to clearly state that the recall was  
13 limited to a specific lot or a period of manufacturing, etc.

14           Scope should not be limited to those products with verified complaints. You need to  
15 think big picture and potential impact to other products or lots and remember the one-and-  
16 done approach. It is very important to avoid multiple recalls for the same issue because the  
17 longer the affected products remain in the field, the higher the likelihood of adverse events  
18 and patient harm. Next, I'll provide an example of reports submitted with an inadequate  
19 scope.

20           We received a recall for an arthroplasty device for debris observed in device  
21 packaging. There is potential for debris on the device to be implanted causing inflammation  
22 and other body reactions that could affect the success of surgery. Based on complaints  
23 received, the firm determined that this issue only impacted one product design and other  
24 products with similar packaging was not included in the initial scope. After some time,  
25 complaints were received for other designs with similar packaging, which led to other

1 subsequent recalls. This presents a concern since products outside of the initial scope may  
2 continue to be used and delaying the action presenting risks to patients. Remember to  
3 think big picture, take systemic actions and revise CAPA as needed. If after submitting an  
4 initial recall you determine that the same correction or removal should be extended to  
5 other lots or batches of the same device, please initiate the extension by amending the  
6 report via an amendment to original report number within 10 working business days, and  
7 notify the ORA Medical Device Division as soon as possible.

8 I will now discuss expectations on recall strategy. When determining recall strategy,  
9 consider the depth of distribution chain, alerting the public of potential harm, ensure all  
10 consignees have been notified and are taking adequate steps to mitigate the risks, and  
11 ensure correction or removal of affected products. Lastly, describe and specify the  
12 methods for the checks and the level of effectiveness of your recall strategy.

13 When a firm is considering the right course of action, please consider the following:  
14 Results of the risk assessment; how easy it is to identify the affected products; is it one  
15 component of a hip system; are the various bone screws compatible with multiple systems  
16 such as plates, external fixators, spinal rods, etc.; how obvious is the issue to the end user;  
17 how difficult is it for the user to recognize the affected product. Please ensure this is  
18 addressed in the communications to consignees. The strategy also needs to take into  
19 consideration other products available to patients and whether removal would cause a  
20 potential shortage. If there are multiple steps such as a workaround before a new design  
21 can be implemented, plan for each step.

22 A situation where an 806 report submitted early without time to plan recall strategy  
23 is shown here. We received a recall with a unique bone screw with a device-specific driver  
24 to assist with implantation. The issue involved the inability for the driver to mate with the  
25 screw head. This is concerning as it can result in delay in surgery with the surgeon having to

1 figure out how to implant the device. The firm wanted to ensure that an 806 report was  
2 submitted to the Agency early in the recall process and therefore determined the best  
3 course of action was to remove drivers from the field. However, this recall strategy and  
4 communication to users did not address the question of what users would do to implant  
5 bone screws without the corresponding driver. In addition, it was not clear how and when  
6 the firm would correct the issue with the driver, for example, investigate changing the  
7 design of the device to replace the product in the field. This led to additional questions and  
8 confusion in the field.

9 We recommend that the firm reach out to the ORA Medical Device Division, involve  
10 the Agency before submitting recalls during instances when the strategy is difficult to plan.  
11 After the 806 is submitted, we recommend that the firm maintain constant communication  
12 and provide updates on the progress.

13 Please note that there is a time lapse between when OHT 6 receives the  
14 classification assignment and when the recall package is submitted. We encourage firms to  
15 update any information such as scope and recovered devices early and periodically to help  
16 reduce FDA time to classification. This information is an important consideration for a  
17 classification decision as changes can impact probability of risk. Overall, we recommend  
18 the firm to provide CAPA numbers and methods with details in the 806.

19 In terms of reporting the corrective actions, we recommend firms provide relevant  
20 information on the planned corrective actions. Please include initial root cause. If one  
21 cannot be identified at the time of reporting, please describe potential root causes with the  
22 corresponding planned corrective action. In the 806 report, please summarize the  
23 immediate actions taken to mitigate the risks to patients. Summarize any long-term  
24 planned and systemic corrective actions to prevent reoccurrence of the issue.

25 Please note that not all corrective actions are required to be completed by the time

1 of recall notification to FDA, but it is recommended to include as much information as  
2 possible. This way, the Agency can work with the firm if there is a need for improving the  
3 actions proposed to ensure the actions are adequate. Generally indicating CAPA is under  
4 investigation without additional information makes it difficult for the Agency to understand  
5 the actions a firm is thinking about taking. It is also recommended, if possible, to include  
6 the CAPA as part of the 806 package.

7 Please note that not every situation would require a new CAPA, unless it's required  
8 by the firm's procedure. It is important that firms investigate repeated recall events, as  
9 they provide important measures in respect to the initial corrective actions. It is important  
10 to develop a robust CAPA plan and even more so, a robust CAPA system to prevent  
11 reoccurring quality issues.

12 If information is requested by the FDA and it is not immediately available, please  
13 issue a statement as soon as possible detailing why the information is not available, a  
14 planned approach for obtaining the information, and an estimated time frame for when the  
15 information will be available to the FDA.

16 Here is an example of a recall we received, which is related to an orthopedic  
17 instrument or a modular connection between the handle and impactor with disassembling  
18 and falling apart during use. The firm had stated in the 806 report that they are planning on  
19 changing the instructions on the labeling; however, this may not be sufficient to prevent  
20 reoccurrence and concluding user error may not be sufficient.

21 As mentioned earlier, it is recommended to provide the list of potential causes and  
22 communicate with the FDA, especially if firms are unclear on what's an appropriate  
23 corrective action. Ensure that you consider immediate and long-term corrective actions.  
24 Long-term corrective options may involve quality system changes such as training, updates  
25 to procedures, and making design changes to the device. For this situation, a design change

1 may be more appropriate. At the very least, the FDA will want to know if a design change  
2 approach was explored by the firm and how it was determined that there is no need for a  
3 design change. Remember to continuously provide updates related to CAPAs.

4 I will now pass it to Joseph Russell for the remaining topics on the recalls best  
5 practices, starting with a discussion on design changes.

6 MR. RUSSELL: Thanks, Jay. Good morning, everyone, my name is Joe Russell. I'm a  
7 lead reviewer in the Shoulder Arthroplasty Devices Team. As Jay just stated, we would like  
8 to briefly discuss design changes, as we have seen various recall CAPAs that have resulted in  
9 changes to a recalled device.

10 One such scenario may be where a 510(k) is submitted for design changes, noting  
11 some complaints or issues in the field, but with no recall submitted. You may consider both  
12 the regulation and the risk-based analysis to determine whether recalling the previous  
13 device was appropriate.

14 Another scenario may be a recall where a proposed long-term CAPA is a design  
15 change with no discussion about whether submitting a 510(k) is necessary. Please review  
16 the FDA guidance issued on October 25th, 2017, titled "Deciding When to Submit a 510(k)  
17 for a Change to an Existing Device." Design changes could encompass modifications such as  
18 minor manufacturing or dimensional changes. I would like to stress that open  
19 communication is key. If you are unsure if a recall or a new 510(k) is necessary due to a  
20 design change, FDA welcomes discussion surrounding the appropriate regulatory approach.

21 Moving on to some best practices for communicating with end users and the FDA.  
22 Your 806 report to FDA should include a list of consignees. The table here displays a list of  
23 various situations for consignees, such as who was notified, did they respond and inform  
24 you of quantities of products on hand or did they not respond.

25 In communication with consignees, we recommend both electronic and written

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 communication as a first step with multiple attempts at calling the consignees as a follow-  
2 up to those that did not respond. It is important to indicate in the 806 report which  
3 consignees have not been communicated to and to have a follow-up plan to address those  
4 that have not responded.

5 Note, you are expected to submit status reports to your district recall coordinator  
6 monthly on how many consignees have responded to your notification, how many products  
7 have been returned, etc.

8 After communication to consignees, it is important to understand and report the  
9 quantity of products in the field. The 806 and status reports should provide specific  
10 numbers on the products returned or corrected by the consignee, the number of  
11 effectiveness checks, and the results. Please include an estimated time frame for  
12 completion and keep the FDA updated for any potential changes. Ensure the manufacturing  
13 date and time frame for distribution of products is adequately documented in the 806  
14 report. Eight-oh-six requires that you provide both consignees within and outside the U.S.;  
15 however, please separate the U.S. consignees from those outside the U.S.

16 After obtaining the quantity of products, you may be considering a plan for  
17 controlled complete destruction or reconditioning of the product. For both processes,  
18 please have procedures in place to separate products that are being deconstructed or  
19 reconditioned from the ready-to-ship products that are not part of the recall. An example  
20 of marking products for destruction would be to create scratch marks, lines or large X's on  
21 components.

22 For reconditioning, the FDA has information about the process on our website. As  
23 part of the reconditioning process, please note that you should provide a justification  
24 demonstrating that reconditioning will not affect the safety and effectiveness of the device.  
25 If you have trouble justifying product reconditioning, we recommend that you communicate

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 with the FDA and consider a controlled destruction. An example of reconditioning could  
2 involve recalls related to labeling concerns where the product is recalled and a new label is  
3 applied. This may be an appropriate approach for reconditioning. However, if a product is  
4 provided sterile and it is recalled due to a broken sterile barrier, please note that there may  
5 be potential concerns with the resterilization of the product as a corrective action.

6 After submitting an 806 report and throughout the recall process, please provide  
7 status update reports to the district office. Any questions about the reports should be  
8 directed to the specific district office. We want to emphasize that these reports are  
9 important in providing updates to CAPAs and other ongoing activities related to the recall.

10 If there is missing information in the 806 report, please note that the regulations  
11 state that a statement to the FDA should be provided. FDA takes recalls very seriously and  
12 will maintain constant communication with the firms continuously submitting incomplete  
13 information. If issues cannot be resolved, the FDA may take regulatory actions, such as  
14 inspections, that could result in a warning or untitled letters or may pursue civil money  
15 penalties. FDA prefers to collaborate and work together with firms to resolve issues well  
16 before there is a need to pursue additional regulatory action.

17 In addition, we want to remind you about the quality system requirements seen in  
18 21 C.F.R. 820. The regulations include establishing and maintaining procedures for  
19 implementing CAPAs. Systematic approaches assist with ensuring the necessary corrective  
20 fixes are made on all units. Good implementation of quality systems support the recall  
21 process to make sure CAPAs are successful.

22 To conclude, the recall process requires various steps to be taken by the firm. We  
23 recommend communicating and working with the FDA to resolve these issues together.  
24 Systematic approaches should be considered for all recall issues, along with both short-term  
25 and long-term solutions.

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1           At FDA, our mission is to protect and promote public health. It takes all of us to  
2 continue to support this mission by having constant communication and a robust recall  
3 process to ensure devices remain safe for our patients.

4           Finally, please note this guidance for industry issued in March 2020 regarding  
5 product recalls. This guidance contains a checklist of documentation and relevant  
6 information to provide to the FDA during recall processes.

7           With this, I will pass the presentation over to Teresa to discuss recent recall trends  
8 and analysis. Thank you for your time and attention this morning.

9           MR. SPEER: Thank you, Jay and Joe. And before we hand things over to Teresa, let  
10 me remind you that if you have questions throughout, to submit those via e-mail to  
11 oht6-feedback@fda.hhs.gov.

12           Now let's move on to Teresa's presentation on recalls analysis and trends. Teresa  
13 Palacios-Hernandez is with FDA and she's a senior staff fellow/lead reviewer/biologist since  
14 2019 in the Division of Joint Arthroplasty Devices, Knee Arthroplasty Devices Team, DHT  
15 6A1, at the Office of Health Technology 6, Office of Product Evaluation and Quality, Center  
16 for Devices and Radiological Health, U.S. FDA, performing TPLC evaluation of orthopedic  
17 devices, recalls, allegations, premarket submissions and pre-emergency use authorizations  
18 for COVID-19 pandemic.

19           Additionally, she serves as a subject matter expert in the field of biocompatibility  
20 with focuses in nanotoxicology, general biocompatibility, and toxicological risk assessment  
21 and sterility. Dr. Palacios worked previously as a postdoctoral fellow in the Division of  
22 Biology, Chemistry, and Material Sciences at CDRH/FDA and the Department of Material  
23 Science and Engineering at Johns Hopkins University and in the Department of Food and  
24 Chemical Engineering at the Universidad de Las Américas Puebla, Mexico. She also was  
25 assistant professor of UPAEP University in Mexico where she coordinated different research

1 projects in nanomedicine.

2 DR. PALACIOS-HERNANDEZ: Good morning, everyone. My name is Teresa Palacios-  
3 Hernandez, a lead reviewer within the knee team of the Division of Joint Arthroplasty  
4 Devices within OHT 6, Office of Orthopedic Devices. I will discuss today about the recall  
5 analysis and trends in orthopedic devices that was performed by the Agency.

6 During my talk, I will provide a background for the analyses performed to the recalls  
7 received by FDA related to orthopedic devices, and I will identify the top devices recalled,  
8 the main root causes for orthopedic recalls. I will present the top products recalled and  
9 then main causes associated with the recalls, and I will finally present the conclusions for  
10 these analyses as well as general recommendations on behalf of the Agency, in order to  
11 decrease the number of recalls needed.

12 I will start this presentation mentioning that since 2018-2020 to date, 452 recalls  
13 have been received by FDA related to orthopedic devices with multiple root causes  
14 associated, then an analysis for other recalls received during this period as well as the  
15 associated root causes was performed.

16 The results that the Agency obtained indicated different trends in the number of  
17 recalls received by the Agency that were associated with a specific probable cause and also  
18 was noted that there are common root cause issues associated with these products.  
19 Therefore, FDA is providing the results from these analyses for industry awareness of the  
20 main problems associated with recalls, the main product codes affected, and general  
21 recommendations to reduce the number of recalls in orthopedic devices.

22 After reviewing the 452 recalls received by the FDA that were associated with  
23 orthopedic devices since 2018-2020 to date, it was that there remained two recalls were  
24 identified as Class I, 444 recalls were identified as Class II, and seven recalls were classified  
25 as Class III. For orthopedic devices, it has been observed in general terms that the most

1 common recalls submitted to the Agency have been identified as Class II.

2 For the total number of recalls received by the Agency and associated with  
3 orthopedic devices, we determined that the top five orthopedic product codes that were  
4 recalled for period of 2018-2020 to date were knee prostheses in cemented version as top  
5 one with product code JWH; orthopedic manual surgical instruments with pro code LXH as  
6 top two; orthopedic stereotaxic instruments with pro code OLO and fixation plates with pro  
7 code HRS with equal number of recalls as top three in the analysis; fixation drugs with  
8 product code HSB as top four; and hip prostheses in uncemented version with product code  
9 LPH were identified as top five in this analysis.

10 Additionally, the main root causes associated to the total number of recalls received  
11 by the Agency were identified as follows: process control was identified as top one root  
12 cause, followed by device design in number two, and different pathogen issues were  
13 identified as top three in the analysis. Also, nonconforming material or component and  
14 employee errors were found as top four. And finally, the recalls with root causes under  
15 investigation by the firm were found as top five in our analysis.

16 We analyzed on a year-by-year basis the top three product codes recalled for period  
17 2018-2020 to date, and it was observed that the overall number of recalls associated with  
18 knee prostheses with pro code JWH and manual surgical instruments with product code LXH  
19 has decreased. In 2018, the Agency received 38 recalls, in 2019 we received 22 recalls, and  
20 for 2020 to date only 19 recalls were received. However, for the stereotaxic instruments  
21 with product code OLO, the trend obtained shows an increase in the number of recalls  
22 received, since in 2018 and 2019 six recalls were received by the FDA for each year and in  
23 2020 to date, 13 recalls were submitted to the Agency by industry.

24 Additionally, for the top three product codes recalled in period 2018-2020 to date,  
25 the top four root causes were identified. In general terms, the most common root causes

1 associated with these pro codes for the period evaluated were process control,  
2 nonconforming material or component, device design, and packaging issues. Additionally, it  
3 was noted that the determination of the root cause is still ongoing for most of the recalls  
4 received for the product code OLO.

5 At the conclusion from a recall analysis, it is important to consider that recalls that  
6 come to the Agency have been related to different causes such as different problems that  
7 may appear during the design of the devices, problems related to material suppliers or to  
8 the existing acceptance activities associated with manufacturing defects.

9 FDA has communicated some of these findings to industry in different public  
10 meetings such as OSMA, in order to make industry aware of such issues and to offer a  
11 collaboration in order to assist industry to decrease their number of recalls.

12 In general terms, it is important to consider that evaluating process points such as  
13 initial device design considerations, the given process validations, the improvement of  
14 device inspections, the generation of additional acceptance activities for labeling or product  
15 change, and additional improvements in controlling incoming materials, components, or  
16 suppliers may greatly contribute to improve the process is effective and therefore decrease  
17 the number of recalls generated.

18 To conclude this talk, we recommend that manufacturers conduct internal analyses  
19 to identify their points of improvement and take the necessary actions to reduce the  
20 number of recalls.

21 Finally, I would like to thank the recall team for their participation in elaborating  
22 these 2018-2020 recall analyses. And this concludes my participation. Thank you very  
23 much for your attention.

24 MR. SPEER: Okay. Thank you, Dr. Palacios.

25 And again, I want to remind you all, if you have questions and comments, I'm going

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 to give you that e-mail address yet again, it's oht6-feedback@fda.hhs.gov.

2 Now we're going to have our first Menti survey for today and this will be presented  
3 by Sahlee Sabala with FDA. Sahlee Sabala is a regulatory health project manager in the  
4 Office of Health Technology 6, Office of Orthopedic Devices at FDA Center for Devices and  
5 Radiological Health. She has over 10 years of public health experience in both local and  
6 international settings and developed strategies in enhancing program operations with a  
7 strong emphasis on health interventions and preventions to improve quality of life through  
8 collaboration with multicenter -- or multicultural, excuse me, environment.

9 She joined FDA in 2016 and worked as a project manager in the Center for Tobacco  
10 Products and served as a contracting officer's representative managing multimillion dollar  
11 tobacco research contracts. In 2018 she joined CDRH as project manager and developed  
12 process improvement in managing sponsors meeting requests and led the first strategic  
13 planning for fiscal year 2020. She earned her master of public health from Kaplan  
14 University in 2014 with honors.

15 Sahlee.

16 MS. SABALA: So good morning, everyone, and thank you, Jon, for that wonderful  
17 introduction.

18 So for today's first Menti survey, I would like to remind everyone that there are two  
19 ways to participate in this survey. First, use your smartphone device, you can scan the QR  
20 code located on the screen or you can go to menti.com and enter the seven-digit code to  
21 get into the Menti questions. So let's get on to our first question about recalls.

22 So the first question is: In one or two words describe what you think when you hear  
23 the word recall. And there is no right or wrong answer to this. Feel free to put in your  
24 answers and because we are having this workshop live, there are some few seconds delay in  
25 getting your answers into the system, so just bear with us and we will give people time to

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 get their answers populated into the system. So while we're waiting about, I just want to  
2 keep reminding folks that we love to hear feedback comments to us, and feel free to enter  
3 those to oht6-feedback@fda.hhs.gov and that e-mail information will be on the screen for  
4 your reference. Let's give folks a couple more seconds to get their answers into the survey.  
5 And I believe there's about 20, 30 seconds lag to get all the answers into the system.

6 (Pause.)

7 MS. SABALA: Okay, wonderful. I see a lot of questions are being populated on the  
8 screen. Wonderful, thank you for your participation. Okay, great. So I guess I'll move on to  
9 the next question.

10 Okay, the second question: What are some tools (e.g. forms, guidance document  
11 template, etc.) you would like to see FDA develop to help you with the recall process?  
12 Again, there's no right or wrong answer, those are just examples of whatever comes into  
13 your mind --

14 (Audio interference.)

15 MS. SABALA: -- what your comments are. And for those who are just calling in, you  
16 can go to menti.com and enter the seven-digit code to get into these questions -- can use  
17 the QR code to get you into the survey questions, as well. I'm seeing answers populating on  
18 the screen. Let's give folks a couple more seconds to get more comments from the  
19 audience.

20 (Pause.)

21 MS. SABALA: Wonderful. Okay, I guess we can move on to the next question. The  
22 next question is: Briefly, what is the process for assessing risk to identify and triage  
23 problems or issues, like health hazard evaluation or health risk assessment?

24 And I just want to read through it one more time and we'd like to hear your  
25 comments and feedback or any questions you might have for us. You can send those via

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 e-mail at oht6-feedback@fda.hhs.com and you will find that e-mail address on the screen or  
2 the reference. And of course, we are having this workshop live and there's also a few  
3 seconds delay in getting all of the answers into the website, so please bear with us. Slowly  
4 but surely, I can see that people have been responding and the questions are being  
5 populated on the screen. Thank you for your participation today. I guess we can give a  
6 couple more seconds for people to put in their responses.

7 (Pause.)

8 MS. SABALA: Oh, wow, we're getting really good feedback and this is really  
9 important to us. Okay. I guess with that, we can move to the next question.

10 All right, next question: How many percent of the time do you have a root cause  
11 determined before versus after submitting 806 report? It could be 0 to 25, 25 to 50%, 50 to  
12 75% or 75 to 100%. There is no right or wrong answer. We would love to get your answers  
13 or comments on this. And if you have further questions specific to this workshop, you may  
14 send it over to the e-mail address on oht6-feedback@fda.hhs.gov, which can also be found  
15 on the screen for your reference. Wonderful answers, thank you all for your participation.

16 Do we have another question? Yes. Next question: What is the most common root  
17 cause at your facility? Again, there's no right or wrong answer and we would love to see or  
18 hear what your facility has for your root cause. Okay. I believe this is the last question for  
19 this -- let's give a couple more seconds to take a time lag. All right.

20 (Pause.)

21 MS. SABALA: Okay. Well, thank you all for your participation and I'll turn this over  
22 to Jon.

23 MR. SPEER: Thank you, Sahlee.

24 Again, the e-mail address if you have questions or comments, I know I'm sounding  
25 like a broken record on this, but bear with me, I just want to make sure you all have the way

1 or the means to be able to provide that feedback, but the e-mail address again is  
2 oht6-feedback@fda.hhs.gov. I think we've got a little bit of background noise, so bear with  
3 me a second.

4 But anyway, let's move on to our next presenter and presentation. The next topic  
5 will be MDRs overview and requirements, and this will be presented by Carole Wolfe.  
6 Carole Wolfe is with the FDA and she's a lead reviewer and biomedical engineer in the  
7 Shoulder Arthroplasty Devices Team in the Division of Joint Arthroplasty Devices.  
8 Ms. Wolfe received her master's degree in applied biomedical engineering from Johns  
9 Hopkins University and has over 5 years of quality systems experience.

10 MS. WOLFE: Good morning, my name is Carole Wolfe and I am a biomedical  
11 engineer and MDR reviewer in the Division of Joint Arthroplasty Devices within OHT 6 Office  
12 of Orthopedic Devices. Today I will be providing a brief overview of the FDA's Medical  
13 Device Reporting or MDR system and requirements.

14 Twenty-one C.F.R. Part 803 establishes the requirements for medical device  
15 reporting for device user facilities, manufacturers, importers, and distributors. MDRs allow  
16 for both FDA and manufacturers to identify and monitor adverse events and certain device  
17 malfunctions, as well as facilitate the correction of these problems in a timely manner.  
18 MDR reports also help to protect the public health by helping to ensure that devices are not  
19 adulterated or misbranded and are safe and effective for their intended use. FDA currently  
20 receives more than two million MDRs per year relating to device malfunctions, serious  
21 injuries, and deaths that may be associated with devices.

22 Twenty-one C.F.R. Part 803.3 Section (o) defines a reportable event as an event that  
23 user facilities become aware of that reasonably suggests that a device has or may have  
24 caused or contributed to a death or serious injury. A reportable event is also defined as an  
25 event that manufacturers or importers become aware of that reasonably suggests that one

1 of their marketed devices may have caused or contributed to a death or a serious injury or  
2 has malfunctioned, and that the device or similar device marketed by the manufacturer or  
3 importer would be likely to cause or contribute to a death or serious injury if the  
4 malfunction were to recur. FDA assumes that once a device malfunction has occurred, it  
5 will recur.

6 In the next few slides I will briefly define what is meant by the phrases "caused or  
7 contributed," "serious injury" and "malfunction."

8 In relation to MDRs, the phrase "caused or contributed" is defined as a death or  
9 serious injury that was or may have been attributed to a medical device or a medical device  
10 may have been a factor in a death or serious injury which could lead you to device failure or  
11 malfunction, improper or inadequate design, problems with the manufacturing or labeling  
12 of the device or use error from the patient and/or surgeon.

13 The phrase "serious injury" is defined as an injury or illness that is either life-  
14 threatening, results in permanent impairment or damage to a body function or structure, or  
15 requires medical or surgical intervention to preclude permanent impairment or damage to a  
16 body function or structure.

17 Finally, a malfunction is defined as the failure of a device to meet its performance  
18 specifications or otherwise perform as intended. Performance specifications include all  
19 claims made in the labeling for the device. The intended performance of the device refers  
20 to the intended use for which the device is labeled or marketed, as defined in 21 C.F.R.  
21 801.4. For a malfunction to be reportable, it must be likely to cause or contribute to a  
22 death or serious injury if the malfunction were to recur.

23 I now want to briefly touch on the mandatory reporting time frame guidelines for  
24 MDRs. Time frames are organized by the reporting body (manufacturers, importers, or user  
25 facilities) and the event type, mainly death, serious injuries, and malfunctions. There are

1 also requirements about to whom you must report, whether it's FDA, the manufacturer, or  
2 both. Finally, there are specific time frames in which the events must be reported, ranging  
3 from five business days after becoming aware of the event, to 30 calendar days after  
4 becoming aware of the event.

5 When submitting an MDR, there are three types of MDR submissions that can be  
6 chosen. Individual or initial reports are submitted when the reporting body has initially  
7 become aware of the adverse event that is MDR reportable.

8 Supplemental or follow-up reports are submitted for individual MDRs when  
9 additional information relating to the initial MDR has been obtained. For example, this may  
10 include new information regarding the adverse event investigation.

11 Finally, voluntary malfunction summary reports, or VMSR, can be submitted  
12 quarterly by manufacturers. I will now go into a bit more detail about the VMSR program  
13 and what it entails.

14 In August of 2018, FDA published a *Federal Register* notice that outlined the  
15 framework for the VMSR program, which is an alternative reporting requirement granted  
16 under 21 C.F.R. Part 803.19. VMSR allows for bundling multiple events sharing the same  
17 malfunction or combination of malfunctions into a summary format in a single MDR which is  
18 submitted quarterly.

19 The VMSR program does not allow for manufacturers to report death or serious  
20 injuries in a summary format, and participation in the program does not change the  
21 manufacturer requirement to investigate malfunction events.

22 Only devices and eligible product codes that have been in existence for more than 2  
23 years may be reported using this format. Currently, 238 out of the 251 orthopedic product  
24 codes are eligible for VMSR. Of note, the program includes Class I, Class II, and Class III  
25 devices. The eligibility status of product codes can be found in the product classification

1 database on FDA's website. Requests to add product codes to the program may be  
2 submitted to the MDR team within the Office of Regulatory Programs at the e-mail  
3 provided.

4 Although the VMSR program includes device malfunctions reported by  
5 manufacturers, there are some situations where a device manufacturer or a malfunction is  
6 not eligible. Reportable malfunctions associated with 5-day reports or are subjects of  
7 certain device recalls are not eligible.

8 If a device is the subject of a recall to address a malfunction, any reportable  
9 malfunction of the same nature that involves the same or similar device marketed by the  
10 manufacturer must be submitted as an individual report until the recall is terminated. New  
11 types of malfunctions that have not previously been reported are also not eligible.

12 FDA may determine that a public health issue requires an individual or initial report  
13 and thus is not eligible for a VMSR. Additionally, FDA may determine that a specific  
14 manufacturer cannot participate in the program.

15 Since the program's inception in August 2018 through March 2020, 38  
16 establishments across 27 firms have participated in the program. The summary reports  
17 submitted represent 134 devices or product codes with more than 5100 reports that are  
18 summarizing nearly 63,000 malfunction events. Considering that most of the orthopedic  
19 product codes are eligible for the VMSR program, participation in the program is worth  
20 thinking about for orthopedic device manufacturers.

21 Now that I have reviewed what constitutes an MDR reportable event, the reporting  
22 time frames, and the types of MDR submissions, I will explain what is not an MDR. MDRs  
23 are not complaints. As described in 21 C.F.R. 820.198, a complaint is a written, electronic,  
24 or oral communication that alleges deficiencies related to the identity, quality, durability,  
25 reliability, safety, effectiveness, or performance of a device after it is released for

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 distribution. Although they are not MDRs, complaints are reviewed, evaluated, and  
2 documented by FDA for possible MDR reportability. Finally, it is important to note that the  
3 volume of MDRs does not equal the complaint rate relating to a device.

4 In addition to submitting reportable adverse events to FDA, there are other  
5 regulatory requirements that must be met regarding MDRs. The device manufacturer must  
6 conduct a complete investigation of each adverse event. User facilities, importers, and  
7 manufacturers must develop, maintain and implement written MDR procedures for internal  
8 systems and documentation and recordkeeping requirements.

9 Additionally, user facilities, importers, and manufacturers must establish and  
10 maintain MDR event files or records. Specific requirements for user facilities, importers,  
11 and manufacturers can be found in 21 C.F.R. Section 803, Subparts C, D, and E respectively.

12 Finally, AI letters may be sent by FDA to the manufacturer to collect additional  
13 information or to clarify any information in an MDR.

14 Some of the strengths regarding MDR data are included on this slide. MDR data can  
15 provide a qualitative snapshot for specific devices and device types. These reports show  
16 how the device is used and functions in the real world, providing FDA and manufacturers  
17 with a snapshot of real-world evidence.

18 The data can also show us how a specific device or device type functions in a specific  
19 subgroup or population. This information can be valuable to consider during research and  
20 development of future medical devices and how those devices may affect certain patient  
21 populations.

22 MDR information also allows FDA and manufacturers to monitor device  
23 performance. Time-to-event and failure modes, new types of issues with improved or  
24 cleared devices, and long-term events and outcomes are all pieces of information that can  
25 be analyzed from MDR reports. This can give FDA and manufacturers clues into potential

1 future device modifications that would serve to better protect and enhance patients' lives.

2 Finally, MDRs contribute to safety signal detection and may be indicative of a larger  
3 systemic issue. MDRs can indicate off-label use, but also use errors or human factors issues  
4 that are unexpected.

5 Additionally, rare or unexpected events for a device or device type or a change in  
6 frequency or severity of expected events can all be catalysts for a safety signal.

7 These are just some of the ways in which MDRs contribute to ensuring the safety  
8 and effectiveness of devices. Additionally, this shows how important MDR information can  
9 be to CDRH's TPLC approach to medical devices. My colleague, Mr. Alex Rodriguez, will  
10 expand upon some of the strengths of the MDR program and data in his presentation on  
11 MDR analysis and trends.

12 As a passive surveillance system, MDRs are subject to limitations, one of which is  
13 underreporting. Underreporting can be due to a variety of factors that include:

- 14 • Device users may be unaware that FDA collects this data and the importance of  
15 reporting;
- 16 • There might be a fear of unintended consequences if the event is reported;
- 17 • There can be confusion about HIPAA privacy rules and some may think that  
18 these rules prevent them from reporting; and finally
- 19 • There is also a risk that the device malfunction or patient injury may not be  
20 clinically apparent, or it might not manifest until the patient is no longer under  
21 direct supervision of the clinician.

22 There are also limitations associated with the MDR regulation itself. Not all events  
23 qualify to be reported, as there are certain device malfunctions, and possibly even injuries,  
24 that do not meet reporting requirements. Therefore, it is important to keep in mind that no  
25 MDRs does not mean no problems exist with the device.

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1           In addition, the information in the report cannot be validated and may contain  
2 incomplete or inaccurate information. Certain facts may not be obtainable from the end  
3 user, in particular, the device itself may not be available for the manufacturer to evaluate.  
4 If a manufacturer cannot obtain the device to do an investigation, a potential problem  
5 cannot be confirmed. My colleague, Ms. Jennifer Houck, will expand upon this limitation in  
6 her presentation on MDR best practices.

7           Lastly, the causality of the event cannot be confirmed. Many times, a direct link  
8 cannot be determined between use of a device or a malfunction and a negative clinical  
9 outcome. This is, in part, due to the many challenges associated with medical devices.  
10 There are so many stakeholders involved when it comes to medical devices, such as  
11 patients, clinicians, caregivers, etc.

12           There are also other things to consider such as patient comorbidities, other drugs or  
13 devices in use, the training and experience of the clinician, and the directions for use. Any  
14 of these factors can influence the outcome of the patient and typically cannot be linked to  
15 the device itself.

16           Despite these limitations, MDR data provides useful information about the  
17 postmarket behavior of medical devices and contributes to and may be used as a factor  
18 towards FDA's evaluation of the safety and effectiveness of medical devices.

19           This slide contains some available resources about MDRs and specifically, the VMSR  
20 program.

21           Thank you for your time and attendance today.

22           MR. SPEER: Thank you, Carole.

23           Again, I want to remind you all if you have questions and comments throughout  
24 today, you can e-mail those to [oh6-feedback@fda.hhs.gov](mailto:oh6-feedback@fda.hhs.gov).

25           Now let's move on to our next presentation on MDRs best practices, and this will be

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 presented by Jennifer Houck. Jennifer Houck, with FDA, is a biomedical engineer/lead  
2 reviewer since 2018 in the Division of Restorative, Repair, and Trauma Devices within the  
3 Office of Health Technology 6 (OHT 6). Ms. Houck has served as lead reviewer in the review  
4 of orthopedic device premarket submissions compliance, medical device reporting,  
5 compassionate use, and COVID-19 pre-emergency use authorizations. She has also served  
6 as the medical device reporting primary focal point for OHT 6. Prior to joining the FDA, she  
7 received a bachelor of science degree in biomedical engineering from the Pennsylvania  
8 State University in 2016. She also received a master of science degree in regulatory science  
9 from the Johns Hopkins University in 2019.

10 MS. HOUCK: Good morning, my name is Jennifer Houck, lead reviewer and MDR  
11 reviewer in the Office of Health Technology 6 in the Division of Restorative, Repair and  
12 Trauma Devices.

13 Today I will be providing a summary of best practices for industry and stakeholders  
14 to follow when submitting medical device reports, which I will be referring to as an MDR for  
15 the duration of this presentation. We appreciate the opportunity to share with you tips for  
16 submitting MDRs to the Office of Health Technology 6.

17 Today I will be discussing the process for submitting an MDR to FDA, highlighting the  
18 requirements for who submits and how to submit an MDR, followed by an introduction of  
19 FDA forms to submit an MDR and best practices for completing these forms using FDA Form  
20 3500A for mandatory reporting.

21 Mandatory reporters, including manufacturers and importers, are required to submit  
22 an MDR via an electronic submission, as summarized in the Electronic Medical Device  
23 Reporting Final Rule issued in August 2015. Manufacturer and importers must use the  
24 Electronic Submissions Gateway, which is a central point for sending information  
25 electronically to FDA. Manufacturers and importers may reference the eMDR guidance for

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 additional clarification regarding how to prepare and send an electronic MDR. Additional  
2 support may be provided by contacting the ESG help desk for eMDR.

3 Device user facilities are also mandatory reporters. They are encouraged to submit  
4 MDRs electronically, however, still retain the option to submit paper reports via Form  
5 3500A and may reference the instructions for completing Form 3500A and guidance for  
6 medical device reporting for user facilities.

7 Voluntary reporters such as patients, healthcare professionals, and caregivers may  
8 submit MDRs on line through MedWatch, which is the FDA safety and adverse event  
9 reporting program. Voluntary reporters are instructed to use Form 3500 for health  
10 professionals and 3500B for patients or caregivers.

11 The mandatory reporting Form 3500A can be found on FDA's website for medical  
12 product safety information. This form is divided among separate sections pertaining to  
13 patient information, adverse event or a product problem, suspect products or medical  
14 device, information on the reporter, user facility or importer, and manufacturing  
15 information.

16 The voluntary reporting Forms 3500 and 3500B can be found by navigating to the  
17 MedWatch FDA website. Voluntary reporters are required to identify the correct form for  
18 completion by the health professional or the consumer or patient. Once completed, the  
19 form will be submitted for FDA review.

20 For the remainder of my presentation, I will be summarizing some problem areas  
21 identified during the review of an MDR using Form 3500A. Please note, the following  
22 recommendations and best practices pertain not only to Form 3500A, but also to voluntary  
23 reporting forms.

24 These forms are outlined in different sections related to aspects and information  
25 required to review an MDR. The first section contains patient information to be completed

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 by the mandatory reporter. Often in OHT 6, the information presented in this section are  
2 not completed but are necessary to understand the reported adverse event. For the  
3 adequate review of an MDR, we recommend that information such as the patient's age,  
4 gender, weight, ethnicity, and race are included. This information is necessary to assess a  
5 correlation between the patient's demographics and the reported event.

6 Section D contains information for the suspect medical device or device in question  
7 related to the adverse event. In this section, please ensure the spelling for the brand name,  
8 common device name, and manufacturer are correct, and ensure that the product code  
9 correctly reflects the suspect medical device. Consistency along spelling and product code  
10 will help FDA correctly identify the suspected device.

11 In addition, if known, please include the implant and explant dates for implantable  
12 devices so that FDA may determine any correlation between device life expectancy and  
13 reported adverse events.

14 Finally, state the device components that were paired with the suspect medical  
15 device during use in the adverse event so that FDA may assess whether additional device  
16 components may have contributed to the reported adverse event.

17 When completed Sections F and G, please ensure that the spelling within the facility  
18 and manufacturer are correct and consistent throughout the form.

19 Also ensure that the adverse event problem codes accurately reflect the device  
20 problem or health effect that is being reported in this form. Please ensure that the adverse  
21 event problem codes accurately reflect the device problem or health effect, and refrain  
22 from using problem codes that do not identify the adverse event. For example, please  
23 refrain from using codes 3190 or 3191 unless there is not enough information available to  
24 classify the device problem, clinical signs, symptoms, and conditions, or if not adequately  
25 described by any other term. In this case, the preferred term to describe the reported

1 adverse event should be documented in the MDR to determine whether a new term should  
2 be added to the code table, which can be found in the hyperlink provided on this  
3 presentation slide. Lastly, please identify, if any, open recalls that are associated with the  
4 suspected device or adverse event.

5 We also recommend that you improve the manufacturer narrative by providing  
6 additional information in Section H, Part 10 of the mandatory report form. In this example,  
7 the narrative provided information indicating a patient had been implanted with a specific  
8 device, needed revision and reported injury, however, is lacking in detail. The narrative  
9 does not indicate the issue with the suspected device, for example, breakage of the  
10 suspected device or a component.

11 If you are submitting an MDR for a joint replacement device, you should consider  
12 including information suggesting whether the device was cemented or uncemented,  
13 constrained or unconstrained or the -- material in the narrative. It would also be beneficial  
14 to include any details of investigation or investigation results. A detailed manufacturing  
15 narrative should be able to indicate how the suspect device was used that led to the  
16 adverse event, any accompanying device components or instruments, the issue or device  
17 problem, and the patient outcome and follow-up.

18 Finally, ensure that you are maintaining an adequate number of submitted reports,  
19 and be mindful in terms of under- and over-reporting. Each adverse event should require  
20 one MDR unless new information becomes available, in which a follow-up report is  
21 acceptable.

22 Please also refrain from reporting events where medical devices were used in  
23 animals. You are required to submit a report for a device associated MDR-reportable event  
24 involving humans when becoming aware of information from any source. Any source  
25 includes medical or scientific literature, whether published or unpublished. And as

1 mentioned in Ms. Wolfe's presentation, a supplemental MDR can be submitted where an  
2 investigation into the suspect device or adverse event are not completed, and can provide  
3 additional information related to the suspect device and investigational results.

4 Hopefully, as a result of this presentation, you are aware if you should submit an  
5 MDR either as a mandatory reporter or voluntary reporter, and to submit via electronic  
6 MDR in the Electronic Submissions Gateway or paper submission forms.

7 When completing either the mandatory or voluntary reporting forms, ensure that  
8 patient-level information is completed, check for spelling mistakes, use correct product  
9 codes, list the implant and explant dates for implantable devices, and ensure the adverse  
10 event, device problem or health effect codes reflect the problem reported in the form. If  
11 there is no appropriate code available, you may document the preferred term when  
12 submitting the MDR to determine whether the preferred term should be added to the code  
13 table.

14 Please also refer to the following resource websites for additional information and  
15 support.

16 Thank you. And this concludes my presentation.

17 MR. SPEER: All right. Thank you, Jennifer.

18 Again, that reminder, if you have questions or comments throughout the day, e-mail  
19 those to [oh6-feedback@fda.hhs.gov](mailto:oh6-feedback@fda.hhs.gov).

20 Let's move on to our next presentation on MDRs analysis and trends, and this will be  
21 presented by Alexander Rodriguez with FDA. Alexander Rodriguez joined FDA in 2020 as a  
22 lead reviewer within the Office of Orthopedics, Division of Joint Arthroplasty, Hip Devices  
23 Team. Prior to joining the FDA, Alex worked as an engineer in orthopedic manufacturing  
24 and product development. He received his bachelor of science degree in biomedical  
25 engineering and master of science degree in engineering management from Florida

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 International University.

2 MR. RODRIGUEZ: Good morning, my name is Alex Rodriguez, engineer and lead  
3 reviewer in the Office of Orthopedics, Division of Joint Arthroplasty, Hip Arthroplasty  
4 Devices Team. It is my pleasure to provide you today with a summary on how medical  
5 device reports are used by FDA, as well as provide an MDR analysis and trending overview  
6 for several types of orthopedic devices.

7 How are MDRs used by FDA? MDRs are used by FDA in several ways including, but  
8 not limited to, the review, analysis and monitoring of adverse events in which we are able  
9 to review MDRs by report type, product code, year, code to describe adverse events, as  
10 alluded to by Carole in the MDR background discussion, and more.

11 MDRs are also used by FDA in the urgent review of death and code blue reports. We  
12 can also generate additional information or AI letters for reporters such as industry and  
13 user facilities.

14 We can provide action-based updates to industry, such as for recalls due to device-  
15 related failures, as well as to identify signals, also known as information that negatively  
16 alters or impacts the perceived benefit-risk profile of a marketed device since that it may  
17 lead to new or increased risks or reduced benefits. For example, this can be a spike  
18 observed in the number of MDRs for a device, a new product failure mechanism or mode  
19 causing patient harm, or new risks introduced by off-label use.

20 Furthermore, signals are not only derived from MDRs but also other sources such as  
21 post-approval studies, inspections, literature or registry reports. In fact, a search of the last  
22 30 active safety signals within CDRH, the Center for Devices and Radiological Health from  
23 October 2019 to date, revealed that MDRs has been one of the original sources in 50% of  
24 these signals.

25 All of these points highlight the important role of MDRs and underline the need for

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 high-quality medical device reporting which can ultimately lead to better patient outcomes.

2 As a disclaimer, I would like to note that we performed analysis and trending for  
3 certain product codes. However, the information presented may not be an exact  
4 representation of MDR trends since elements such as inappropriate product codes or  
5 adverse event problem codes may have been entered in the MDRs and ultimately included  
6 in the data analyzed and presented today. The information on data presented is for  
7 illustration purposes on how FDA can use MDR data, and not meant to indicate any action  
8 items forthcoming from FDA.

9 So to provide an overview of MDR analysis and trending, we identified several  
10 product codes that encompass our Office of Orthopedic Devices. We searched and  
11 compiled data from our internal MDR database for that past 3 years from 2018 to 2020 and  
12 extracted the top adverse events related to two code types; the first one, health effect-  
13 health impact, or the consequences of the medical device adverse event incident on the  
14 person affected, as well as medical device problem such as malfunction, deterioration of  
15 function or failure.

16 The first product analyzed was JWH, related to knee joint semi-constrained  
17 cemented prosthesis. The graph on the left-hand side of this slide shows a number of MDRs  
18 from 2018 to 2020 and, as you can see, there is a slight increase in MDRs submitted from  
19 2018 to 2019 and a decrease to about 12,000 MDRs submitted in 2020, lower than MDRs  
20 submitted in 2018.

21 We conducted an analysis of the top three health effect-health impact codes and  
22 medical device problem codes for JWH from 2018 to 2020 and the results are presented in  
23 the tables to the right. Please note that the number following each health effect-health  
24 impact and medical device problem represents the number of times that code appeared in  
25 our search. It was noted that the codes for pain and infection were a top three health

1 effect-health impact code; however, there's a pattern observed that the code for "no code  
2 available" was also identified by reporters as a top three problem code. This may be  
3 attributed to many reasons, including those described by Jennifer in the best practices  
4 discussion, where it is possible that reporters may be unsure of the code that specific  
5 adverse events fall under.

6       Regarding medical device problems, we observed that fracture and loss of or failure  
7 to bond were top three medical device problems; however, it was also observed that  
8 adverse event without identified device or use problem is observed as a top three problem  
9 code in each of the past 3 years. Similar to the table above, an emphasis on identifying the  
10 most appropriate problem code is critical in order for FDA to better analyze problems  
11 related to patients and devices.

12       This is an example of something FDA may monitor, and we recommend working  
13 together to better identify adverse events which will in turn assist FDA when reviewing and  
14 analyzing MDRs.

15       Product code HWC is related to smooth or threaded metallic bone fixation fastener  
16 devices. Here we can see an upward trend in MDRs submitted from 2018 to 2019 with a  
17 slight downward trend from 2019 to 2020. It was noted that the codes for pain, infection,  
18 and failure of implant were a top three health effect-health impact code for HWC from 2018  
19 to 2020. However, there's also a pattern observed that no code available was identified by  
20 reporters as the top problem code every year.

21       Once again, FDA is here to assist you and we urge you to implement the  
22 recommended best practices discussed earlier in order to replace codes such as no code  
23 available with an accurate description of adverse events.

24       Furthermore, unspecified infections, pain, and failure of implant may potentially be  
25 the top reported codes should the events identified as no code available be appropriately

1 assigned a code that identifies the effect and impact to the patient.

2           Regarding medical device problems, we observed that break, fracture, migration,  
3 and device-device incompatibility were in the top three medical device problems from 2018  
4 to 2020. However, adverse event without identified device or use problem was trending as  
5 the top code from 2018 to 2020. Similar to the previous product code discussed, an  
6 emphasis on identifying the device from the event is critical so that FDA can better analyze  
7 device-related problems.

8           Product code LOD is related to PMNA bone cement. Here we observed that the  
9 number of MDRs submitted in 2019 is about double that from 2018, followed by a drop  
10 back to roughly the number submitted in 2018, observed for 2020, which could have easily  
11 been attributed to the COVID-19 pandemic, for example.

12           Furthermore, the top three health effect-health impact analysis revealed that  
13 reporters had been able to identify specific codes such as pain and edema for events  
14 related to LOD devices. However, the code for no code available was once again identified  
15 by reporters as a top three code in each of the past 3 years.

16           Regarding medical device problems, loss of or failure to bond and loosening of  
17 implant not related to bone in-growth were two of the top medical device problems in the  
18 past 3 years. However, adverse event without identified device or use problem was once  
19 again trending as one of the top three codes.

20           Product code NKB is related to thoracolumbosacral pedicle screw system devices. In  
21 each of the last 3 years we observed that the number of MDRs submitted has slightly  
22 increased every year. In this case, this is something we would monitor since such a trend  
23 may indicate a variety of issues which may be significantly affecting the patient as a cause  
24 of a device or other underlying issue.

25           In addition, you may have noticed the numbers of MDRs submitted varies, at times

1 significantly, when compared to other products discussed, and this may be due to different  
2 types of products such as standalone or implanted as a system with multiple components  
3 and articulations which may lead to a lower or higher number of adverse events submitted.

4 It was noted that the codes for injury and spinal column injury were the top three  
5 health effect-health impact codes in the last 3 years. However, we once again observed  
6 that the code for no code available was identified by reporters more than any other code.

7 We also observed that the reporters are identifying no known impact or  
8 consequence to patients, which indicates that manufacturers are concluding that the  
9 adverse events result in no harm to the patient.

10 Regarding medical device problems, break and adverse event without identified  
11 device or use problem is observed as two of the top medical device problems within the last  
12 3 years, and we once again emphasize to make your best effort to identify the device from  
13 the adverse event. The other defined problem codes in the table illustrate a specific  
14 correlation between the adverse events and the device.

15 In addition to product code MDR trending, we conducted a time-to-revision analysis  
16 for product code NKB, discussed in the last slide, pertaining to the thoracolumbosacral  
17 pedicle screw system devices. The reason behind this analysis is to provide another  
18 example of how FDA can use MDR data. Here we would like to share some of the patient  
19 and medical device problems identified that may be contributing to the periods of time  
20 from the original implantation to the time a revision was performed for this device type.

21 This information is important for a variety of reasons, including it can provide us  
22 with a glimpse of certain life expectancies for different devices. This information can also  
23 give us an idea of what may be occurring within the first few months or few years in the life  
24 of a device, which may raise questions of safety and effectiveness depending on specific  
25 patterns observed, that is device related, for example. In the case of NKB devices, for all

1 MDRs reviewed from 2018 to 2020, it was observed that roughly 1,500 revisions occurred  
2 within the first year with that number significantly dropping every year thereafter. We also  
3 identified the health effect-health impact and medical device problem codes associated  
4 with revisions which occurred within the first year. It was noted that codes for no known  
5 impact or consequence to the patient, pain, and failure of implant were in the top three  
6 codes for health effect and health impact. However, no code available was once again  
7 identified, and we highly recommend reviewing and implementing some of the best  
8 practices discussed earlier so that we can together initiate any actions to identify and  
9 mitigate these adverse events.

10 In the case of medical device problems for revisions that occurred within the first  
11 year, we observed that material deformation is the top code and we encourage industry to  
12 note this and look at whether your devices fall within these reports so that you can create  
13 action plans to address these issues.

14 I would like to thank our team members for their participation in the MDR analysis  
15 and trends effort.

16 In conclusion, although we've provided an overview of MDRs, touched on best  
17 practices such as how to more appropriately identify health effects and medical device  
18 problems, as well as an overview of how FDA uses MDRs and trends which our office has  
19 observed, we encourage every company to consistently conduct continuous improvement  
20 on the various elements within your quality management systems in an effort to enhance  
21 those processes which you influence, in order to reduce the overall number of MDRs. We  
22 understand that this is something that is easier said than done, but which can be  
23 accomplished by enhancing the level of communication and collaboration with all  
24 stakeholders internal and external to your company.

25 That concludes this presentation. I thank you very much for your attention and we

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 look forward to a continued partnership with you to further improve the quality of medical  
2 devices and together facilitate better patient outcomes.

3 MR. SPEER: All right. Thank you so much, Alex.

4 Folks, this is a lot of great information and I hope you're getting a lot of out of this,  
5 as much as I am. So we've got a few more sessions, we do have a break coming up here  
6 soon, so hang in there. But again, if you have questions throughout, I want to remind you  
7 to submit those via e-mail to oht6-feedback@fda.hhs.gov.

8 Let's move on to some of our industry representatives today, and the first session on  
9 that will be industry perspective on MDRs and recalls, and this will be presented by  
10 Stephanie Matthews and Kara Ditty-Bovard; both ladies are from Johnson & Johnson.

11 Stephanie Matthews serves as the quality system director for field actions and  
12 product issue escalations for hospital, medical device businesses, Johnson & Johnson based  
13 in Cincinnati, Ohio. For the past 3 years she has led a team supporting FDA recall  
14 submissions and interactions ensuring compliance standards for FDA requirements and  
15 processes through field action closure. Stephanie has over 20 years of experience in the  
16 medical device sector, spanning various roles within postmarket surveillance,  
17 pharmacovigilance, quality systems, quality engineering and supplier quality engineering,  
18 and new product development and sterile packaging development. She earned her  
19 bachelor's in packaging engineering from Michigan State University and an M.B.A. from  
20 Xavier University, Ohio.

21 Our other presenter is Kara Ditty-Bovard, also from Johnson & Johnson. She is the  
22 director of health authority reporting within Johnson & Johnson Hospital Medical Devices.  
23 Her current responsibilities include leading the FDA and AE teams charged with reporting  
24 AEs and malfunctions. Kara has over 20 years of industry experience working in both the  
25 pharmaceutical and the medical devices sectors. In prior roles, she has worked in vaccine

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 manufacturing, clinical packaging, pharmacovigilance, product release, quality complaint  
2 handling, and event commercial teams.

3 MS. MATTHEWS: Hello, everyone. My name is Stephanie Matthews, Quality  
4 Director of Field Action and Product Issue Escalation for Johnson & Johnson Medical  
5 Devices. I will be sharing a device manufacturer's perspective and information regarding  
6 medical device recalls.

7 The primary objective of a field action is to safeguard the public from harm. The  
8 secondary objective is to address products found to not be meeting applicable regulatory  
9 requirements. It is important to execute established procedures while performing periodic  
10 reviews of those procedures to confirm compliance with internal and external  
11 requirements.

12 Some of the effective QMS requirements and procedures include reporting to  
13 affected health authorities and notified bodies. It is important to have processes for  
14 communication, dissemination, notification, and response to worldwide health authorities  
15 and notified bodies according to local regulations, where treating and segregating product  
16 impacted may be required therefore preventing further distribution as required by the  
17 notification.

18 It is important to secure and reconcile all nonconforming product. It is also  
19 important to ensure effectiveness checks are conducted, corrected actions and preventative  
20 actions to prevent recurrence are implemented and effective, and regular status updates on  
21 the recall are provided to local health authorities. It ensures continued communication  
22 after the recall initiation through closure.

23 An important factor to consider is if the field action will alter the standard of care or  
24 create an issue where there is no substitute products and surgeries cannot be performed.  
25 Manufacturers should assess if the field action will create a market shortage. If a market

1 shortage will occur, determine how this will be handled, discuss with FDA early in the field  
2 action strategy, and communicate to impacted consignees through an applicable  
3 communication package. For example, if a manufacturer can no longer supply a particular  
4 device, product alternative or substitution in the field action notification can be provided to  
5 support continuity of care with support determined by medical safety. Let's now review  
6 information included in the field action communication.

7         Field action communication is to convey: starting with description of the problem  
8 and providing potential health hazards associated with it. You should specify the reason of  
9 why the field action has been initiated. Make it clear whether the risk is to the user, the  
10 patient or both. You should also try to indicate if there is any residual risk when executing  
11 the notification or removal.

12         It is also important to include the product in question and is subject to the field  
13 action notification or removal. Review information regarding the scope of the field action.  
14 The scope will include some of the following information depending on the field action  
15 type:

- 16         • The name;
- 17         • Product code;
- 18         • Lot or serial number;
- 19         • Manufacturing date;
- 20         • Quantity distributed;
- 21         • Expiration date; and
- 22         • The UDI/TTIN numbers.

23         If the depth of the field action includes second-level consignees, these should be  
24 identified as second level or indirect when communicating to FDA or notified bodies. These  
25 second-level consignees are customers who purchase from first consignees, which could

1 include hospitals, surgery centers, doctors' offices.

2 It may be required to return product to the manufacturer for segregation and  
3 destruction. Information will be provided to physicians through the health hazard  
4 evaluation describing the situation and the clinical use context of the issue.

5 Analysis conducted by a qualified medical professional within the device  
6 manufacturer to determine the potential impact of a product quality issue on the patient's  
7 health and safety, including risk-benefit analysis, is included in the HHE. Impact of the  
8 recall on the treatment of patients, any risks to public health, impact to product supply, the  
9 availability of replacement product and alternative therapies are also considered within the  
10 health hazard evaluation.

11 Some practices to consider include discussion of the situation, the clinical use  
12 context, and any related clinical factors that could influence the outcome. The HHE will  
13 discuss reasonable and foreseeable direct or indirect harms including any potential harms  
14 resulting from the impact that a recall would have on the treatment of patients or at an  
15 individual or public health level.

16 The HHE should also be used to describe the potential impact or harm to the patient  
17 or user. It would also describe the severity of each harm and the likelihood that each harm  
18 will occur when the situation is present.

19 Other factors to consider are how special patient populations may be affected, such  
20 as age, sex, comorbidities, will impact the severity and likelihood.

21 In the recall communication, information will be provided and shared with physicians  
22 on relevance to any potential impact to patient care and postoperative care, if applicable.  
23 For example, an orthopedic implant recall removal based on clinical relevance, factors, and  
24 severity may not impact or affect the standard patient follow-up, if implanted. This would  
25 be provided in a recall communication to the physician. Medical device manufacturers do

1 not have access to patient information due to HIPAA requirements for patient  
2 confidentiality. However, manufacturers work closely with physicians, hospitals, and FDA to  
3 ensure understanding of the issue and if standard of care and patient monitoring is altered.

4 Finally, discussions with the recall office at FDA can aid in the understanding of the  
5 device design, understanding of the issue, understanding of the nonconformance impact,  
6 and, when necessary, medical-to-medical conversations and discussions with the  
7 manufacturer and Agency can be extremely helpful in the understanding, the development,  
8 execution of the recall strategy.

9 Thank you.

10 MS. DITTY-BOVARD: Hello, this is Kara Ditty-Bovard. I am director of health  
11 authority reporting for Johnson & Johnson Hospital Medical Devices. Today I'm pleased to  
12 be talking with you about medical device reporting from the perspective of industry. I will  
13 cover several topics that can be challenging for even the most seasoned complaint-handling  
14 team.

15 The first topic I would like to cover is product returns. Getting products returned for  
16 evaluation and completing a thorough investigation of the complaint allegations are, of  
17 course, closely linked. However, as we all know, getting medical devices returned can be  
18 difficult. The device may be implanted, it may have been discarded, or the customer may  
19 simply refuse.

20 So what do you do as a manufacturer to thoroughly investigate? On the slide I've  
21 listed some practices to consider. First, always complete three follow-ups to assure due  
22 diligence in requesting the return. Second, consider working closely with your field-based  
23 teams to leverage relationships they may have with the HCPs involved in the allegation.  
24 Where the device is implanted, it sometimes helps folks to request photographs, X-rays or  
25 other images that may be available. And another thing to consider is, for devices that are

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 returned for maintenance or service on site, consider having the technicians trained to  
2 identify and document potential malfunctions.

3 When completing the 3500A, make sure to complete the suspect medical device  
4 field to clearly show if the device is still implanted and make sure to supplement this  
5 information in the H-10 if, for instance, the device is a serviceable device that is in use or if  
6 the customer has indicated that they have discarded the product in question.

7 As we discussed, getting devices returned can be difficult and unfortunately, can be  
8 a frequent occurrence for industry. So how can industry move forward to investigate  
9 without a device? There are several practices to consider. You can request an interview  
10 with the HCPs involved with the complaint. Hopefully, they can provide more detailed  
11 information about the event and the functioning of the product. You could always request  
12 a device manufacturing record review. You can complete a search for nonconformances  
13 and/or CAPAs that may be related to the defect. You can request an evaluation of any  
14 retained samples, if they're available. Another avenue is also to take a look at the  
15 occurrence rate, what's happening as far as the defect levels at the time of the event  
16 compared to the expected rate for the product and defect combination.

17 While all of these could eliminate a potential root cause for the complaint, it is a  
18 good practice to note any limitations for each in your investigation summary. When  
19 completing the 3500A, make sure to tell the story of your investigation, what was reviewed  
20 and what the outcome was, in a manner that links back both to the event conditions and  
21 any codes that you've chosen for the 3500A.

22 Another common challenge for industry can be determining whether a device is  
23 suspect or concomitant. Remember, concomitant devices are used at the time of the event  
24 but are not thought to be involved in the event. They are identified on the 3500A along  
25 with the suspect device. Any device with the potential to be involved in the event should

1 be considered suspect and would need to have its own 3500A form submitted. I would  
2 suggest the following: consider using clinicians with practical experience actually using the  
3 devices in a clinical setting to determine if the product is suspect or concomitant. Where  
4 you have constructs that consist of multiple devices, you need to be very careful when you  
5 evaluate those as they may require multiple 3500A forms for each suspect product. And if  
6 there are multiple suspect products in one complaint, have a clear process on how to link  
7 these complaints.

8 Another common challenge for industry is the identification of off-label use or use  
9 error and understanding how they may have caused conditions that led to the complaint.  
10 When working with these types of issues, it can help to pull apart the analysis of the event  
11 into two separate paths.

12 First, really dig into what happened. Ways to do this are to request interviews with  
13 the HCPs involved with the complaint to gain a deeper understanding of the event or  
14 consider working closely with field-based teams because they may be able to talk to HCPs  
15 on your behalf or even may have knowledge of the event itself.

16 The second thing to consider is how important it is to understand the boundaries for  
17 use your organization has defined for the product. Two ways to do this, of course, are to  
18 look back at the IFU and to have a look at your risk documents.

19 I think it's important, when you're writing these up, to carefully consider the  
20 language used to describe the event when you're filling out the 3500A in the manufacturer  
21 narrative.

22 The next topic I'd like to talk about briefly is literature articles. They can, of course,  
23 provide valuable information about the performance of medical devices. It is important  
24 when you're dealing with literature articles to clearly define how you will process articles to  
25 ensure the potential value is realized.

1           Here are some things to consider. Follow up with the author as needed to get  
2 details on events, patients, and device specifics that may not have been clear in the  
3 published article. Work closely with teams within your company also assessing articles to  
4 assure calibration on identification of events, patients, and device specifics. I'm thinking  
5 specifically of postmarket surveillance teams, teams that may be using them for PSURs and  
6 R&D mining that may be going on to understand the product. You should really consider  
7 carefully defining boundaries when necessary to report as your product -- for example,  
8 when the device is described without a brand name associated with it. We all live in a  
9 world where you may find an article that refers to a nail or a screw; it's hard to know if  
10 that's your nail or your screw, so think about carefully defining that.

11           I would suggest considering special training for teams tasked with reading and  
12 coding articles. This is really difficult work, it takes a lot of training, so specialized teams  
13 may be very helpful for dealing with literature articles. And of course, don't forget to  
14 identify literature articles on the 3500A in the report source, they require a different  
15 approach and it will help anyone reading the 3500A to know the source is literature.

16           The next topic I'd like to spend some time on is product end of life. Device end of  
17 life is another situation that may lead to gray areas when assessing complaints. To assure  
18 teams can best handle complaints where product performance may be related to end of  
19 life, it is important that the complaints team has strong product training and also has access  
20 to clinicians that can assess the event for relatedness.

21           Some additional items to consider are making sure the team knows if end of life is  
22 labeled for the device, referring back to the IFU as appropriate for information that may be  
23 in there about end of life. It's always helpful to go back to the risk documents and see the  
24 approach that was designed for the product. And I would suggest including any end-of-life  
25 information with reportable events where the product is at or past end of life.

1           So we're to my last slide in our whirlwind tour of "all things medical device  
2 reporting" and I have one final tip to share, that is to avoid selecting "code not available"  
3 when completing the 3500A. There really are hundreds of codes available for every place  
4 you need to enter a code and so it should really be a rare occurrence to use "code not  
5 available." I would even suggest that it should take management approval to use it on the  
6 3500A. And if you're unsure about what to do or if you can't find the right code, it's always  
7 acceptable to reach out to our friends at the FDA. I know from experience, they will always  
8 help.

9           Thank you so much for your time.

10          MR. SPEER: Thank you, Stephanie and Kara.

11          Let's move on to our next presentation on patient perspective on MDRs and recalls,  
12 and this will be presented by Richard Seiden. Richard Seiden is a retired partner and  
13 healthcare business lawyer with Foley and Lardner, LLP, in the Los Angeles office. His  
14 practice focused on all aspects of healthcare business counseling for healthcare providers  
15 including formation of business entities, general business, and financial matters and  
16 mergers and acquisitions. Mr. Seiden is a former member of the firm's management  
17 committee and previously served as the managing partner of the firm's California offices.

18          Mr. Seiden has been peer-review rated as AV Preeminent, the highest performance  
19 rating in the Martindale-Hubbell's peer-review rating system. He has been listed in the *Best*  
20 *Lawyers in America* every year since 2006, and *Chambers USA: America's Leading Lawyers*  
21 *for Business* from 2008 to 2011. Mr. Seiden was also selected for inclusion in the 2009  
22 through 2016 *Southern California Super Lawyers* lists.

23          Richard.

24          MR. SEIDEN: Thank you. Today I'm here as a volunteer patient advocate. I have had  
25 severe psoriatic arthritis since 1976. Over the course of time I have had multiple joint

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 replacement surgeries of both knees, both hips and both shoulders. I serve as the chair of  
2 the Public Advisory Board to the American Joint Replacement Registry. Fortunately, I have  
3 not experienced any recalls of any of my devices.

4 So in preparation for this panel, I conducted two interviews. One was with a patient  
5 with recalled hip implant, in whom the device remains implanted to this day, and the  
6 second was an interview with a Patient Safety Action Network representative. I'll refer to  
7 them as PSAN. It is a coalition of individuals and organizations consisting of patients who  
8 have been medically harmed, your loved ones, and concerned advocates. Her responses  
9 were based on interactions with patients. The questions in the interviews covered issues  
10 surrounding orthopedic devices and recalls. Next slide, please.

11 Question 1: What details did the doctor give you about the device before it was  
12 implanted? This is totally dependent on the thoroughness of the surgeon. The recall  
13 patient received extensive information about possible outcomes, dislocation, possible  
14 failure. Based on her conversations with patients, the PSAN representative felt that  
15 pre-surgical consultation is typically inadequate to enable a patient to make an informed  
16 decision. There was no discussion of materials, the possible metal interactions, etc. It was  
17 remarkable how much better the recall patient's experience was than what the PSAN  
18 representative described. In my personal experience, the details and the decisions are  
19 quite complicated, probably beyond the mechanical understanding of most patients, and I'll  
20 get to the detail of that later.

21 Question 2: Were you aware of how your device was performing in others before it  
22 was implanted? I personally do not recall discussing that. The recall patient was told of  
23 other patients' experience with the device. The PSAN representative expressed concern  
24 about the American philosophy that newer is always better but that may not be appropriate  
25 for a particular patient. She noted that there were many variables in device choice

1 decisions and oftentimes established devices worked better than new ones.

2 Question 3: How did you become aware of the device recall? Once again, I didn't  
3 experience this. The recall patient received written notice and including notice from the  
4 manufacturer, it suggested the testing for metals, so she and her physician -- surgeon  
5 conducted blood tests and X-rays and determined that her implant could remain in place.  
6 Next slide.

7 Number 4: What was your initial reaction? The recall patient had conversations  
8 with the surgeon who, as I said, recommended blood tests and X-rays. However, there was  
9 no issue with respect to her particular device, so the device remains in place. The PSAN  
10 representative felt that the typical reaction of a patient would be to seek immediate  
11 removal of the device, but she noted that that may not be the best approach unless there is  
12 a systemic problem as a result of the device. Otherwise, it may be more dangerous to  
13 remove it.

14 Number 5: How did your talk with the doctor go once you found out the device you  
15 have implanted has been recalled? The recall patient was extraordinarily satisfied with the  
16 conversation and the testing results, so there were no changes. Of course, this is  
17 dependent upon the continuing availability of the surgeon, so hopefully there will be a  
18 replacement surgeon available to talk to a patient under these circumstances.

19 Number 6: What information were you provided about the recall? The recall patient  
20 was told in the manufacturer's letter what other patients were experiencing, what  
21 symptoms could be observed, and how to monitor for any future damage from the device.  
22 Next slide, please.

23 Number 7: Were you happy with the level of detail you were provided? The recall  
24 patient was satisfied with the detail from the manufacturer and particularly satisfied with  
25 the surgeon. The PSAN representative had no real answer to this question, but hoped that

1 the level of detail was adequate to enable the patient to make the right decision.

2           Number 8: What was the plan for monitoring you? The recall patient said metals  
3 tests and X-rays and follow-up calls with the surgeon. The PSAN representative thought  
4 there should be explicit advice from the FDA, and the primary care physicians and  
5 cardiologists should also be part of the monitoring of the patient going forward. Therefore,  
6 the patient needs to alert other physicians of the recall because the patient was the only  
7 one who knew who those other providers were.

8           Number 9: Are you aware that information on device recalls and adverse events is  
9 public and can be found on the FDA website? Yes, I personally have come to learn that this  
10 information is readily available. The recall patient felt that she was aware of all of this  
11 because she had done extensive online research both before her surgery and when she got  
12 the recall notice. The PSAN representative felt that the FDA needs to issue more public  
13 information with details beyond raw data that is capable of being understood by the typical  
14 patient, and in language that is understandable. Next slide, please.

15           Question 10: If not, had been you aware of it, would you have considered it in your  
16 decision making regarding that particular device? Although I did not experience a recall, if I  
17 were aware of one, I should have -- I expect that I would have asked for an alternative  
18 device. This is an excellent example of where shared decision making should occur. The  
19 recall patient did not seem concerned, she was aware that the device could wear down and  
20 could have complications but she was so desperate to have her implant that she was willing  
21 to take risks greater than, I think, the typical patient might. But she also was far better  
22 informed before the surgery based on her own research and observing YouTube videos of  
23 her surgery as to what the surgery would entail.

24           Number 11: How can the Agency, industry, and healthcare providers improve  
25 regarding alerting patients to the problematic devices? In my opinion, social media may not

1 be adequate given that many devices are implanted in seniors who may not have access to  
2 social media. The recall patient thought the letter and her surgeon contact were adequate.  
3 The PSAN representative felt that there should be a system for broad public outreach,  
4 perhaps through Medicare or AARP in addition to the FDA, and that there should be a  
5 similar system for medical device recalls similar to automobile warranties and recalls. It is  
6 possible that the recall information could be included in the patient version of the AAOS  
7 registry annual report, but it is something for limited distribution to the orthopedists, so  
8 that may not expand the communication very much.

9         Number 12: Was there a difference in the amount of information and detail  
10 originally presented versus after the recall? The recall patient claims to have asked a lot of  
11 questions prior to the surgery, she watched YouTube, as I mentioned, so she didn't believe  
12 that the amount of information was much more detailed in the recall notice than what she  
13 had in advance of her surgery, which sounds atypical to me. Next slide.

14         Number 13: Did your surgeon disclose how long the device has been on the market  
15 at the time of the surgery? In my experience, no. The recall patient was given a fairly new  
16 device and she knew it, and the differences from older devices, and understood all of the  
17 risks and supposed benefits from the device. She claims to have had a full discussion with  
18 her surgeon. As I said, this sounded to me like an atypical experience with a better  
19 educated patient than a typical patient.

20         Number 14: Was the labeling you were provided clear and understandable?  
21 Personally, I never received any labeling for any of the six devices that are implanted in me.  
22 I would have liked to have received the labeling, provided it was written in readily  
23 understandable language. The recall patient was not given the labeling. The PSAN  
24 representative says her organization recommends that patients ask for the labeling  
25 information either before or after their surgery.

1 Fifteen: What details were you given about the procedure and what options were  
2 discussed? After physical therapy was not adequate alone, I received a general description  
3 of joint mechanics and device mechanics, postoperative recovery and expected range of  
4 motion. The recall patient, as I said, had an extensive and thorough discussion with her  
5 surgeon about the mechanics. He apparently made hand-drawings of the joint and the  
6 device, it sounds like she was very prepared for that discussion. Next slide.

7 In summary, there were three completely different perspectives based on the  
8 relative experiences of the patients.

9 Before my surgery, I relied very heavily on the references I received from my  
10 orthopedist and as a patient, to me, you have to have ultimate trust and confidence in your  
11 surgeon because, as I said, I don't believe the average patient can understand all of the  
12 decision making that goes into which device is chosen and which procedure is chosen for  
13 any particular patient.

14 However, in hindsight, reflecting back, I should have asked the orthopedist for a lot  
15 more detail about the procedure, the device, and post-surgical expectations, so I could have  
16 been better informed and participated in more of a shared decision as to my medical care. I  
17 never received any packaging and frankly, do not know the manufacturer or component  
18 materials of any of my devices. But once again, fortunately I have not had any device  
19 recalls. Wouldn't know whether they were relevant to me if I did. Next.

20 I've tried to summarize some suggested potential improvements to the system here.  
21 This includes input from the PSAN representative. As I wrote, the FDA should consider an  
22 action plan for orthopedic device recalls, setting forth explicit requirements for the  
23 manufacturer notice of the recalls and particularly those communications that are passed  
24 on to patients. It should specifically include who the patient should contact for more  
25 information, and that's particularly true if the patient is no longer in contact with their

1 surgeon, because oftentimes these relationships between patients and orthopedic surgeons  
2 are sort of one-and-done.

3 There needs to be very clear instructions to the patient and the surgeon for follow-  
4 up clinical visits. Specific instructions as to what should be monitored as a result of the  
5 recall, whether it's blood tests, X-rays, MRIs, etc.

6 A reporting system for surgeons so there can be post-recall surveillance by the  
7 manufacturers and the FDA. Once again, the PSAN representative came up with the idea to  
8 create a system similar to automobile warranty recalls for better follow-up.

9 And then also, perhaps expand the communications with regards to primary care  
10 physicians and cardiologists to include the possible consequences of a device recall.

11 And there may well be a role here for patient registries or orthopedic registries with  
12 respect to recall of devices.

13 Just a last slide. Two personal observations regarding my medical care -- sorry, next  
14 slide -- that patients need to be advised that there are limits on what they can have in the  
15 way of MRIs after their surgery because of the metal in the devices and the interference  
16 with the MRI pictures or images after the surgery.

17 I noticed that there are some interesting intergenerational issues among orthopedic  
18 surgeons. Several times I have been to young surgeons who can easily diagnose a problem  
19 but tell me that they don't do the surgeries that are necessary because they are not  
20 experienced with arthritis patients. This appears to be in part a result with respect to  
21 rheumatoid arthritis-like patients, that the biologic drugs have prevented a lot of the  
22 surgeries for this type of patient. So if they've been trained in the last 5 to 10 years, they  
23 may not have the experience or education in that area.

24 And finally, it appears as if there are a limited number of orthopedic surgeons who  
25 are good at performing revision surgeries if a device is recalled and needs to be explanted.

1 So perhaps as part of the notice, there could be a list of orthopedic surgeons who perform  
2 revision surgeries and from what I know, oftentimes these are academic orthopedists.

3 Thank you very much, that's the end of my comments.

4 MR. SPEER: All right. Thank you so much, Richard.

5 Folks, we're going to make a slight adjustment to the planned agenda. We're going  
6 to take a break now, so please come back at 5 minutes before the top of the hour or at  
7 10:55 a.m. Eastern Time. When we come back from break, we'll do the next Menti survey  
8 and then after that we'll get into the group discussion. So right now we're going to take a  
9 break and see you back in a few moments.

10 (Off the record at 10:48 a.m.)

11 (On the record at 10:55 a.m.)

12 MR. SPEER: All right, we're back from the break. Now's the time for our next Menti  
13 survey today and this will be presented by Ting Song from FDA. Dr. Song has been serving  
14 as the assistant director in the Division of Joint Arthroplasty Devices, Knee Arthroplasty  
15 Devices Team within the Office of Health Technology 6 at FDA since 2019. Dr. Song is a  
16 biomedical engineer who served as a lead reviewer at FDA since 2012 and acting deputy  
17 division director in 2018 and '19 of DRH within the Office of Health Technology 7. She  
18 received her Ph.D. in biomedical engineering from Columbia University. Dr. Song is an  
19 expert in both premarket and postmarket compliance submissions, who performed foreign  
20 inspections in the past and trained FDA inspectors.

21 Dr. Song.

22 (No response.)

23 MR. SPEER: Dr. Song, I think you might be on mute.

24 (No response.)

25 MR. SPEER: It was about the Menti survey and I think we've got some technical

1 difficulties.

2           So with that, we're going to go ahead and proceed with the group discussion for  
3 Session 1. Let me introduce the moderators and panelists for this group discussion,  
4 representing perspectives of both industry and FDA. So first, let me give brief introductions  
5 of the moderators.

6           John Gomes from FDA holds a bachelor's degree in electrical engineering from the  
7 University of Maryland and a master's degree in electrical engineering from the Johns  
8 Hopkins University. He joined CDRH in 2012 as a compliance officer. Over the past 9 years  
9 he has led various mission-critical compliance projects involving dental, ENT, ophthalmic,  
10 and surgical devices. Prior to joining the FDA, Mr. Gomes worked in the private industry for  
11 over 9 years as an electrical engineer. His work involved design and characterization of  
12 various electrical, optical, and optomechanical sensor systems.

13           The other moderator is Linda Braddon with Secure BioMed Evaluations. She's the  
14 president at Secure BioMed Evaluations and works with both emerging and established  
15 companies to prove regulatory quality and technical support for both the medical device  
16 and biologics industry. Dr. Braddon holds a bachelor of science in engineering from Mercer  
17 University School of Engineering along with a master of science and Ph.D. in mechanical  
18 engineering with a specialization in bioengineering from the Georgia Institute of  
19 Technology. She served as a National Science Foundation fellow at both Duke University  
20 and Georgia Tech and won the 2009 Women in Technology Small Company Woman of the  
21 Year Award. Additionally, Dr. Braddon is on several industry specific boards to keep abreast  
22 of changing currents. Dr. Braddon founded Secure BioMed Evaluations to provide strategic  
23 support to the medical device community.

24           And now let me introduce the panelists. James Walker from FDA was born in  
25 Charleston, South Carolina. Being an inquisitive young man, he later studied and received

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 degrees in physics and engineering from the University of South Carolina and South Carolina  
2 State University. Interested in the life cycle of medical devices, he joined FDA and has  
3 become a valuable resource in the medical device electronic product areas. Currently,  
4 Mr. Walker is the assistant director of Recalls and Shortages Team within the Office of  
5 Regulatory Programs in CDRH.

6 The next panelist will be Michelle Rios from FDA. She's the assistant director of the  
7 Medical Device Reporting Team in the Office of Regulatory Programs from CDRH within  
8 FDA. Ms. Rios received her undergraduate degree in microbiology from the University of  
9 Puerto Rico, and her graduate degree in biotechnology from Johns Hopkins University.

10 The next panelist is Jennifer Harris. Jennifer is the director of quality assurance for  
11 OsteoMed/Acumed based in Addison, Texas. She has worked in the medical device industry  
12 for 20-some plus years. Some of her experience includes roles focused in active  
13 implantables, sterilization, diagnostic equipment, OR capital equipment, and orthopedics.  
14 She received her bachelor of science in engineering science and mechanics from the  
15 University of Tennessee.

16 The next panelist is Erika Guthrie. Erika is the director of quality for  
17 Acumed/OsteoMed. She worked in industrial engineering prior to her 13 years of medical  
18 device industry experience. Some of her areas of focus have been in process validation,  
19 statistical analysis, and design assurance. She holds undergraduate degrees in both  
20 industrial engineering and mathematics, as well as graduate studies in statistics.

21 Rounding out our panelists are presenters from earlier today, who will be Richard  
22 Seiden, Stephanie Matthews, and Kara Ditty-Bovard.

23 So let me hand things over for the group discussion to the moderators.

24 MR. GOMES: Good morning, everyone, and welcome to the Session 1 group  
25 discussion. My name is John Gomes, I'm a lead reviewer in the Office of Orthopedic Devices

1 in CDRH, and it's a pleasure to be able to moderate this session of today's public workshop.  
2 I also have the pleasure of having Dr. Linda Braddon from Secure BioMed Evaluations as  
3 another moderator during this group discussion.

4 Good morning to you, Linda.

5 DR. BRADDON: Good morning. Thank you for allowing me to participate.

6 MR. GOMES: Absolutely. So Session 1 is focused on MDRs and recalls and --

7 (Audio malfunction.)

8 MR. GOMES: My apologies, I was having some Internet issues. So Session 1 is  
9 focused on MDRs and recalls and hopefully, everyone had an opportunity to listen to the  
10 presentations this morning and I hope that they provided some background on MDRs and  
11 recalls for those who may not be familiar with these processes, and some additional clarity  
12 for those who may be familiar with them.

13 I would also like to thank the Session 1 panelists, Nick Walker and Michelle Rios from  
14 FDA, Stephanie Matthews and Kara Ditty-Bovard from Johnson & Johnson, Jennifer Harris  
15 and Erika Guthrie from Acumed/OsteoMed, and Richard Seiden, who will be providing the  
16 patient perspective on these two topics.

17 At this time I would like to remind the audience that we welcome and encourage any  
18 questions you may have about MDRs and recalls. Please send your questions by e-mail at  
19 oht6-feedback@fda.hhs.gov. We are actively monitoring this e-mail inbox for your  
20 questions and as your questions arrive to us, we'll try to include them in the discussion.

21 With that said, let me begin this group discussion with my first question to the  
22 medical device industry and in some respects, also FDA in the panel. The first question is,  
23 as we have seen in the presentations, oftentimes MDRs are submitted with no code  
24 available or saying that without any devices identified. What are the challenges that may  
25 cause this to happen? And besides urging manufacturers not to use 3190 or 3191 as much

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 as possible, are there additional recommendations for overcoming these challenges?

2 I do have a second part to that question, I'll repeat it. I'll state it now, but I am  
3 happy to repeat it at a later point during the discussion. The second part reads: What kind  
4 of challenges do incomplete or unreliable data present for decision making, both from the  
5 business side and the regulatory side? In other words, if the data was more complete and  
6 reliable, what kind of additional analyses or decision making can be done with them?

7 MS. DITTY-BOVARD: So this is Kara from Johnson & Johnson, maybe I'll jump in from  
8 the industry perspective on the question about coding. Just a quick check, can everybody  
9 hear me okay?

10 MR. GOMES: Yes, thank you.

11 MS. DITTY-BOVARD: Cool. I think coding can be a challenge to get the right things in  
12 the right places. I think it takes a lot of training, we really focus on training first, first and  
13 foremost, to make sure people are really confident before we set them loose on their own  
14 about what needs to be done in, you know, for each section of the form.

15 One of the other approaches we take is to not actually have our teams use FDA  
16 codes, so we use common terminology and have them mapped on the back end, so there  
17 are lots of choices available to the teams that will match the language that they are seeing  
18 in reports and then we have chosen to map them on the back end. So I think that makes it  
19 a little bit easier because you can find those words that you see in reports or in literature  
20 articles and then the system actually says okay, this maps to this FDA code because you may  
21 have lots of variations that actually map to just one FDA code. So that's an appropriate -- to  
22 help with that.

23 DR. BRADDON: Could I ask a question on that?

24 MS. DITTY-BOVARD: Yes.

25 DR. BRADDON: When you have the internal mapping, that is something your

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 company has developed, correct? Like, your team has gone through the wording and then  
2 mapped it to a specific FDA code. For small companies who have a much smaller team than  
3 like J&J, what do you feel are best practices to develop that, understanding their human  
4 resource aspect is probably much smaller?

5 MS. DITTY-BOVARD: Yeah. So I think, you know, my questions always -- my answers  
6 always have to be couched. I come from a really big company, right, so that's a great  
7 question. When you're small, you don't have the advantages of having maybe a bunch of  
8 physicians who can help you map all of those codes in a big system that allows you to do  
9 stuff like that.

10 I have worked at smaller companies and there is an advantage to that, too, in that  
11 the number of products is smaller and so you can know those products really well and you  
12 can know everything about those products, you know, you can really be an expert on those.  
13 So I would think, from a small company perspective, it's about creating that product  
14 knowledge and understanding what we see with our products, we're going to receive the  
15 same complaints over and over again and so just say these are the things we see 80% of the  
16 time and develop at least some kind risk document that says these are the codes we see  
17 frequently and these map to these FDA codes. A cheat sheet, I would suggest, I think, could  
18 be an easier way to do that. Less resource intensive.

19 DR. BRADDON: Great advice.

20 MS. HARRIS: I can add to that a little bit. Hi, this is Jenny from the  
21 OsteoMed/Acumed group, and we have a bit of a smaller segment, I would say, in  
22 comparison. As you mentioned, we do have a smaller team working on this that makes for,  
23 I think, a closer-knit group on a familiarity with what we're coding. I wouldn't say our  
24 product offering is smaller, but there is a repetitive nature to the things that we see to a  
25 certain extent, so that is what we do. And when things come up that are unusual, then that

1 kind of smaller team can quickly gather and walk through and again set up a new template  
2 for what we've seen so that the next time, if that comes around, we can walk through it.

3 MS. GUTHRIE: Yeah. And adding to what Jenny was saying -- this is Erika Guthrie --  
4 in our system we do have "cheat sheets" that we have to look into that coding. They're  
5 built up over time, so we have a lot more personnel know-how building those things up and  
6 we need to make sure that we really document all of that pretty well because we do have  
7 smaller teams.

8 MS. RIOS: And I was going to ask, as you are evaluating your processes and changing  
9 it based on the new coding structure, are you considering the levels of hierarchy when it  
10 comes to determining or identifying the correct coding? We often recommend that as you  
11 submit your adverse event reports that you select the lowest level of coding. How is that  
12 incorporated?

13 MS. DITTY-BOVARD: Yeah, we've proceduralized that from a J&J perspective, just to  
14 line up with guidance and the regulations, that coding has a priority to it and that's how we  
15 train our teams.

16 DR. BRADDON: Does FDA have resources on the Internet on their learning program?  
17 There's lots of great learnings on the FDA website. Again, for a small company, right, who  
18 might be training people for the first time, are there resources out there that FDA considers  
19 best practices for training folks on selection of those codes?

20 MS. RIOS: In our website we do have the codes available in our enhancements  
21 coding page. That is something that we can look into as we recently completed that back in  
22 March, earlier this year in March, we completed the reharmonization with IMDRF for  
23 adverse event coding.

24 DR. BRADDON: It would be really helpful, again -- and I'm coming at it from a small  
25 company perspective -- to have a video that walks through the form, the expectation of

1 what FDA ideally -- best practice -- would like to see and just maybe some best practice tips  
2 and tricks on selecting those codes. And one of the questions that came across from the  
3 website address was if you have a product with two components for an issue like infection,  
4 does a company fill out two forms? So maybe guidance on how to do it.

5 MR. GOMES: Okay. So I have a question for -- we received a question on the recalls  
6 and I was wondering if Nick could kind of help us discuss that. There appears to be some  
7 question of when a field action is or is not a recall. I guess it goes into a request for  
8 clarification of when to submit an 806 report and when the action described in the 806 is  
9 considered a recall and what other type of categories can 806 reports fall under.

10 MR. WALKER: So good morning, everyone.

11 So when the manufacturer or importer initiates a correction or a removal that  
12 addresses -- well, is initiated to reduce the risks to health proposed by the device, or is to  
13 remedy a violation of the act caused when a device may present a risk to health, unless that  
14 information is provided in the MDR, however, Form 3500A does not request all of the  
15 required information. And so I would stay away from submitting 806 information through  
16 an MDR report.

17 In terms of submitting that 806 report outside of a recall, a Class I, Class II or Class III  
18 recall, a report may be considered to be a market withdrawal, which is a situation where  
19 there's a minor violation and the product really doesn't create that -- major risk to health.  
20 An example is say you have older technology and the company or the firm no longer wants  
21 to support that technology, there isn't a risk with the device, it's just no longer being  
22 supported, so that would be a market withdrawal.

23 Another option is stock recovery. So that's when the product is in the direct control  
24 or ownership of the manufacturer, however, they're still doing some type of correction to  
25 the device. Examples for a stock recovery is if they send a lot of gloves to one of their own

1 warehouses and they may want to change anything, their labeling or something like that,  
2 that's doing a correction but again, that doesn't leave the ownership of the manufacturer.

3 So market withdrawals, stock recovery. There also may be a slight chance of a  
4 device enhancement, so Part 806 doesn't define a use term enhancement. But as one of  
5 the exemptions, it does mention the actions taken to improve the performance or the  
6 quality of the device. So if it's a device enhancement and the manufacturer can prove or  
7 justify that it improves the quality or performance of the device without a violation, it  
8 would be exempt from the 806 report. And so those are some of the things outside of a  
9 recall if the 806 report is submitted.

10 MS. MATTHEWS: And James, this is Stephanie Matthews. I completely agree and I  
11 think, in addition -- obviously, if it is exempt and certainly, following 806.20, I think we both  
12 noted in our presentations today, right, essential documentation still applies. So again,  
13 even as a manufacturer, ensuring all records are available whether it's reported to the FDA  
14 or not, if it's under those areas and in consideration even under the market withdrawal  
15 guidance and certainly those principles, both documentation, communication,  
16 reconciliation, all apply certainly at a manufacturer level in terms of records.

17 And our part of -- I'm sure this team is aware, you know, in terms of any type of  
18 audit and/or monitoring of the process that we continue to follow that guidance, from  
19 report-ability and all the way through closure, whether you're following 806.10 or 806.20  
20 guidance.

21 So completely agree with -- in your respect and as a manufacturer, it is not  
22 uncommon that at times we reach out to FDA and talk through a situation to kind of walk  
23 through it if it's especially under -- likely in a Class III situation, around report-ability if it's  
24 something that's not a significant violation and your product may not have gone through  
25 U.S. commerce, I think there's some great discussions but I know, as a manufacturer, we've

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 had with FDA, to talk about consistency on that reportability. So I think the guidance from  
2 you and certainly, as a manufacturer, there's always an opportunity to collaborate and talk  
3 through the situation with the Agency.

4 MR. WALKER: Yeah, one thing I've learned being with the Agency roughly around 20  
5 years now, is when firms come in or manufacturers come in for questions, a lot of times  
6 there's not a straight "we could give you a direct answer." It depends, right?

7 MS. MATTHEWS: Right.

8 MR. WALKER: Because every situation is unique and so we will work together to  
9 actually go through the regulations and identify the appropriate regulatory path.

10 MS. MATTHEWS: Um-hum.

11 DR. BRADDON: On that point of getting feedback from FDA, one of the presenters  
12 talked about design changes and if you have a question on whether it's truly a 510(k) or it's  
13 an internal documentation type thing, that FDA can review that. What is the pathway for  
14 reviewing that and tie that into -- one of the presenters said if you're doing an updated  
15 510(k), like with design changes, that should be considered in -- whether the impacted  
16 products need to be recalled. So how do we get that feedback and how do we consider an  
17 updated 510(k) in a recall sense?

18 MR. WALKER: So once the 806 package is submitted, or prior to the 806 package is  
19 submitted, and the firm wants to just gather some information around their actions, that  
20 new 510(k) may be how they address the risks to health. And so it's hard to say that -- you  
21 know, you take a look at that guidance and you make a decision well, now we think this is a  
22 recall/we think this isn't a recall. As a part of that recall strategy, you need to take a phased  
23 approach. First, you're notifying the customers of the situation, then you may want to  
24 come in with that new 510(k). However, with the new 510(k) there's not a quick  
25 turnaround, right, and so you submit that 510(k) and it's a 90-day window there.

1           And so with that recall strategy, we just work with the firm to identify the steps that  
2 are in place to (1) keep the customer notified, and also reduce that risk to health in the  
3 meantime until that new 510(k) or until that updated product is available on the market to  
4 reduce that risk or to remove the risk or mitigate the risk.

5           MR. GOMES: But I think, Nick, there was also a question about how to document  
6 that decision. Would you recommend that companies conduct an HHE?

7           MR. WALKER: So the regulation doesn't require manufacturers or firms to conduct  
8 an HHE. However, I think it's a good practice because in a situation where there's an  
9 inspection -- you know, investigating and they see something and it triggered them to go  
10 down that path, and with that proper rationale and justification, it's a new sticky situation.  
11 And so identifying or performing that HHE, getting that medical officer or clinical reviewer's  
12 assessment and include that in the rationale would be a great practice.

13           MR. GOMES: And I think on FDA's website there are guidance documents on  
14 deciding when to submit a 510(k) and also what page is describing the recall or 806  
15 requirements in detail, so those two resources might be able to help to tie into the two  
16 different aspects of decision making.

17           MR. WALKER: And again, it goes back to please don't hesitate to contact FDA, we're  
18 here and always available to help. And a lot of times it goes back to "it depends," right?  
19 And so we just walk through that situation together.

20           DR. BRADDON: Yeah, I tell our clients the difference between submitting a 510(k)  
21 and getting formal feedback and a letter to file or non-filing justification that's done  
22 internally is with the 510(k) process, you 100% know FDA agrees with you. And so it was  
23 very interesting, to me, when one of the reviewers who spoke this morning said if you're on  
24 that fence and you don't -- it's not black and white, right, it's very gray -- there's an avenue  
25 to get the feedback. I'm very familiar with the guidance, but I'd be super interested in how

1 to get that feedback when it's a very gray area.

2 MR. GOMES: So my understanding is, Linda, you could utilize the Q-submission  
3 pathway or simply just reach out to one of us in OHT 6, if it relates to an OHT 6 product. I  
4 think that sometimes companies are hesitant to reach out to FDA but, in my experience, I've  
5 always picked up the phone and talked to them and helped them as much as possible. So I  
6 would highly recommend to utilize that path.

7 DR. BRADDON: Great, thank you. I am interested in the filings, like MDR filings, the  
8 two different kinds, one from a patient and one from a healthcare provider and whether  
9 there is like you said, a 50/50 split. Is it 25/75? Who reports more?

10 MS. RIOS: Oh, thank you for that. I have to say that when compared to voluntary  
11 reporting and mandatory reporters, mandatory reporters have the highest volume. In  
12 terms of user facilities and manufacturers, manufacturers submit a lot more reports, leaving  
13 user facilities accounting for less than 1% of reports.

14 Similarly, the voluntary reporting is also less than 1%, which is interesting because  
15 when it comes to the MDR review perspective, MDR reviewers really do pay attention to --  
16 they do pay attention to all reports, but they do -- we have noted that voluntary reports,  
17 they provide a lot more context of the adverse event itself compared to the summarized  
18 version of the event description that a manufacturer report would provide.

19 So it's important for the MDR reviewer to see -- they value seeing the entire picture  
20 of the adverse events. Manufacturers will provide the results of the investigation versus  
21 when compared to the voluntary report. But in terms of the adverse event itself, the event  
22 description, we have a very low volume of voluntary reports and user facility reports.

23 MR. GOMES: So Michelle, since we're talking about voluntary reports, would you  
24 like to talk about -- we talked about, during the presentation, we talked about -- mostly  
25 about 3500A. Would you like to discuss 3500B and who can use it and why it might be

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 important for us to know about 3500B?

2 MS. RIOS: Yeah. So yes, so mandatory reporters, they are required to submit  
3 reports under certain circumstances and there is a mandatory reporting form that is used  
4 and, as it was noted in the overview presentation, the form that is required is the 3500A.  
5 We do have other versions available for adverse event reporting for the consumers and the  
6 3500B is the consumer version of the 3500 form. So we do encourage consumers to submit  
7 device-associated events using the 3500B form.

8 As noted, we do have a small volume of reports. From those over two million  
9 reports that we receive every year, less than 1% of that is voluntary reports. We do want to  
10 hear from the consumer's perspective what the device-associated event is and, like I said, it  
11 does help, provides MDR reviewers more --

12 MR. SPEER: I'm going to have to interrupt here, folks, I'm sorry. We are out of time.  
13 I know we're just getting started in the group discussion. Linda, John, thank you so much  
14 for moderating this. We tried to do the Menti survey a few moments ago, I know Dr. Song  
15 had some audio difficulties.

16 Dr. Song, can you test your mike to make sure we're good?

17 DR. SONG: Yeah, thank you so much, Jon. Can you hear me?

18 MR. SPEER: All right. Yes. Yes, we can. So go ahead and take that away with the  
19 Menti survey and then after this, we'll break for lunch. So go ahead.

20 DR. SONG: Yeah, perfect. Thank you so much, everyone. So now we will start our  
21 Menti survey regarding the Medical Device Reporting (MDR). So let's start with the first  
22 question.

23 Our first question is: What do you see as the primary reason for entering "No Code  
24 Available" or "Adverse Event Without Identified Device or Use Problem"?

25 Since there will be a delay, so I'm going to give you about 1 minute to respond.

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 Wow, a lot of people choose not enough industry training regarding filling out the form. As  
2 Stephanie mentioned in today's presentation, if you're not sure, you can discuss with your  
3 management or you actually can also e-mail FDA, we will be very happy to help you. Okay,  
4 let's go to the next question, please.

5 The second question is: Do you have thresholds in place for initiating actions (such  
6 as investigations, CAPA) related to a single or multiple MDRs? And also feel free to send  
7 your full comments to the mailbox oht6-feedback@fda.hhs.gov. A lot of people choose yes.  
8 Great, thank you so much for feedback. Okay, so let's move on to the third question,  
9 please.

10 So the third question is: How often do your surveillance and product management  
11 teams discuss MDRs with sales representatives, user facilities, and/or surgeons?

12 I think we have biweekly and also every 6 months. Okay, thank you so much. Let's  
13 go to the next question, please.

14 So the next question is: How often does your firm obtain explanted devices as a  
15 result of an adverse event?

16 Sometimes and frequently. And never. Okay, thank you again for feedback. Okay,  
17 so let's move on to the next question.

18 So the next question is: Are you currently implementing systemic improvements to  
19 increase the quality of MDRs (such as obtain a larger percentage of explanted devices)?

20 Wow. I see it's changing. No. Okay, great. That's the end of the Menti survey.  
21 Thank you again for your participation.

22 MR. SPEER: All right. Thank you, Ting.

23 I know we had to cut things a little bit short on the group discussion and I appreciate  
24 all of your flexibility with that, but before we wrap up Session 1, let me hand things over to  
25 John Gomes and Linda Braddon for, I guess, a couple-minute summary of that session. So

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 John and Linda.

2 DR. BRADDON: John, do you want to lead?

3 MR. GOMES: Yeah, sure. Yes. So unfortunately I'm having some issues with my  
4 video but yeah, I would like to thank all the panelists for their valuable feedback on the  
5 questions. And I would also like to thank the audience for joining us in this discussion and  
6 sending in all your great questions, and I hope that you'll join us for the next two remaining  
7 presentation sessions and the corresponding group discussions.

8 DR. BRADDON: From an industry standpoint, I was -- the take-home message for me  
9 that I will definitely send to my clients is that if we have a question, we're going to reach  
10 out even if it's in an informal way and maybe that's not the right way, but we'll reach out  
11 and we'll do it informally and then FDA will tell us if we need to do something more formal  
12 with a Q-sub or whatnot, but I really appreciate knowing that it's a very open door. Thank  
13 you.

14 MR. GOMES: Absolutely.

15 MR. SPEER: Thank you, John and Linda, and to all the panelists and the moderators  
16 and the presenters for Session 1.

17 We're now at a point where we're going to break for about an hour for lunch, so  
18 we're going to take that lunch break, come back at 12:30 p.m. Eastern Time, just about 1  
19 hour from now, for Session 2. And a reminder, if you have questions, you can submit those  
20 during this time to oht6-feedback@fda.hhs.gov. Thank you.

21 (Whereupon, at 11:32 a.m. a lunch recess was taken.)

22

23

24

25

26

AFTERNOON SESSION

(12:30 p.m.)

1  
2  
3 MR. SPEER: All right, welcome back. Ready to start Session 2 and then eventually  
4 Session 3. So Session 2 will be covering topics related to post-approval studies and  
5 postmarket surveillance studies.

6 Let me introduce our next presentation, it is on post-approval studies or PAS and 522  
7 studies overview and requirements, and the presenter is Dr. Carolina Alvarez-Garriga from  
8 FDA. Dr. Garriga is a medical epidemiologist for the Division of Restorative, Repair and  
9 Trauma Devices, Fracture Fixation Devices Team at FDA, and she started working at FDA in  
10 2012 at the former Division of Epidemiology. Before joining FDA, she worked as a faculty  
11 member for the University of Nevada and for the Ponce Health Sciences University, teaching  
12 graduate courses in epidemiology and research methods for public health master's and  
13 doctoral students, as well as conducting epidemiology research. Dr. Alvarez-Garriga has a  
14 doctoral degree in public health with concentration in epidemiology from the University of  
15 North Texas, a medical doctor degree from the Central University of Venezuela, and  
16 completed a medical residency in epidemiology of infectious diseases at the University of  
17 Carabobo, Venezuela.

18 DR. ALVAREZ-GARRIGA: Good afternoon, my name is Carolina Alvarez-Garriga. I am  
19 a medical epidemiologist in the Restorative, Repair, Trauma and Fracture Fixation Devices  
20 Team in Division of Restorative, Repair and Trauma Devices within OHT 6, Office of  
21 Orthopedic Devices. Today I will be presenting an overview of post-approval and 522  
22 postmarket surveillance studies.

23 The purpose of my presentation is to review the postmarket mandated studies  
24 programs, that is the post-approval studies and the postmarket surveillance under Section  
25 522 of the Food, Drug, and Cosmetic Act. We'll cover the FDA authority to impose

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 mandated studies and we'll go over guidance documents. Then we'll discuss the core  
2 process for both post-approval studies and 522s, the component of a study protocol or  
3 plan, and we'll conclude with a brief overview of mandated studies that have been required  
4 for orthopedic devices.

5 The postmarket mandated studies are required when a higher degree of uncertainty  
6 can be accepted during premarket conditions to postmarket monitoring requirements in  
7 making benefit-risk determinations. The postmarket mandated studies are important tools  
8 to continue monitoring device performance, to the evaluation of safety and effectiveness,  
9 and can be addressed in two ways, post-approval studies and 522 studies.

10 FDA has the authority to impose post-approval studies as condition of approval for  
11 Class III devices under Section 513 of the Food, Drug, and Cosmetic Act, under which we will  
12 consider whether postmarket data collection or other conditions might be structured to  
13 permit approval subject to those conditions.

14 C.F.R. 21 for premarket approvals and for humanitarian device exceptions also states  
15 that post-approval studies can be imposed at time of approval to continue evaluation and  
16 reporting on the safety, effectiveness, probable benefit for humanitarian device exception,  
17 and reliability of the device for its intended use. As such, the FDA may require a post-  
18 approval study or studies at the time of premarket approval, Humanitarian Device  
19 Exemption, or product development protocol application, to help assure continued safety  
20 and effectiveness or continued probable benefit in case of a Humanitarian Device  
21 Exemption of the approved device.

22 CDRH should only seek a study as condition of approval if it's in accordance with the  
23 policies established by the two FDA guidances listed below, which lay out the following  
24 criteria:

- 25
- Long-term evaluation by extended follow-up of premarket cohorts;

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

- 1           • Leveraging the premarket cohort by extending the follow-up for long-term data  
2           to be obtained postmarket as a condition of approval;
- 3           • Benefit-risk question where data are not available otherwise, to address  
4           unanswered questions that are not necessary to demonstrate premarket  
5           reasonable assurance of device safety and effectiveness. This includes benefit-  
6           risk questions of short- or long-term performance, learning curve training,  
7           performance in specific subgroups or rare adverse events; and
- 8           • Nonclinical questions on laboratory, bench testing, animal testing or  
9           explant/failure analysis.

10           The FDA guidance document for post-approval studies was updated in 2009 and  
11           provides verification on the contents of post-approval study protocols, interim and final  
12           reports, definitions for study progress, and consequences of failing to comply with a post-  
13           approval study order.

14           This guidance document is intended to assist you if you are subject to post-approval  
15           study requirements imposed by an approval order, by providing procedural information,  
16           recommendations on the format, content, and review of post-approval submissions, and  
17           recommendations applicable to both clinical and nonclinical post-approval studies.

18           This guidance document also aims to increase the transparency of FDA's approach to  
19           post-approval study requirements to stakeholders. Transparency initiatives include posting  
20           the status of post-approval studies on FDA's website or presenting the status in public  
21           meetings of the advisory panel.

22           Now I will talk about 522 studies. Postmarket surveillance orders can be issued for  
23           Class II and III devices at the time of market authorization or any time thereafter. For the  
24           statutory guidance outlined in postmarket surveillance under Section 522 of the Federal  
25           Food, Drug, and Cosmetic Act, the device must meet one of the statutory criteria described

1 in the next slide. Surveillance is up to 36 months. However, FDA may order longer  
2 surveillance if the device is suspected to have significant use in pediatrics.

3 Under Section 616 of the FDA Safety Innovation Act, 522 orders was further  
4 amended by specifying the orders can be issued at the time of clearance or approval and  
5 the device surveillance must commence within 15 months of order issuance.

6 FDA has also -- to order postmarket surveillance for Class II and III medical devices  
7 that meet any of the below statutory criteria. The first criterion is that a failure of the  
8 device will be reasonably likely to have a serious adverse health consequence. The second  
9 criterion is expected to have significant use in the pediatric population. The third criterion  
10 is whenever the device is intended to be implanted in the body for more than 1 year. And  
11 the last and fourth criterion is when the device is intended to be a life-supporting device  
12 used outside of a user facility.

13 Some examples of situations that may raise postmarket surveillance need are:

- 14 • Confirming the nature, severity, or frequency of suspected problems reported  
15 in adverse event reports or in published literature;
- 16 • Obtaining more experience with a change from hospital use to use at home or  
17 other environment or with a broader patient population than those studied in  
18 the premarket clinical trials;
- 19 • Addressing long-term performance of implantable and non-implantable  
20 devices, and assessing potential association between a device and adverse  
21 event once the device is on the market; for example, detecting unexpected or  
22 unexplained serious adverse events, setting changes in the nature of serious  
23 adverse events, and increase in the cumulative incidence rate of serious  
24 adverse events.

25 The FDA guidance document for postmarket surveillance under Section 522 was

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 issued in 2016 and provides stratification of the pre-522 process and expectation for  
2 content of surveillance plan, the reporting of consequences for not addressing an order, as  
3 well as stratification of the progress established definitions.

4 This diagram shows the core process for the post-approval study program and the  
5 522 program. The process begins when the need for a post-approval study or a 522 study is  
6 identified. Then the orders are issued. The protocols or plans are developed. Please note  
7 that some post-approval studies have the full protocols developed by the time of device  
8 approval. When the protocols or plans are approved, we post the descriptions of the  
9 studies on the public web pages of the programs.

10 The studies commence when FDA approves the protocols or plan. They usually have  
11 a 6-month reporting schedule during the first 2 years and annually thereafter or as  
12 otherwise specified in the order. The web page will update the device's performance and  
13 progress status from the interim and final reports.

14 When the studies are finished and the postmarket requirements are addressed,  
15 CDRH should proceed to posting on the web the study's final results, updating the label  
16 and/or sending an FDA communication.

17 These are the components for a protocol or a study plan. They should include  
18 background and device description, study design, study population, study endpoints,  
19 sample size calculations and assumptions, and a statistical analysis plan.

20 To increase public transparency and keep all of our stakeholders informed of the  
21 progress of postmarket mandated studies, CDRH has established the post-approval studies  
22 and 522 postmarket surveillance studies databases. These databases are regularly updated  
23 with any new or revised information on study protocol parameters or study status or  
24 information related to the review of data from interim and final reports.

25 If the postmarket mandated studies are not progressing well, CDRH has the

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 authorization of potential regulatory actions, such as failure to comply with post-approval  
2 studies required as condition of approval constitutes grounds for withdrawal of device  
3 approval, and introduction or delivery or introduction into interstate commerce of a device  
4 that is not in compliance with its conditions of approval is a violation of the law.

5 Failure to comply with a postmarket surveillance order is a prohibited act under  
6 Section 301(q)(1)(C). If not compliant with a 522 order, a device can be considered  
7 misbranded.

8 This presents the overall status for post-approval studies imposed as condition of  
9 approval for orthopedic devices. On the next slide you see the status for all requirements,  
10 where 32% have been closed as completed. If we look at device type that includes active  
11 requirements, 76% are progressing adequately as of May 17, 2021 with only four in the  
12 progress inadequate category.

13 These present the reasons for the four post-approval studies in the progress  
14 inadequate category. And these are mostly related to reaching enrollment milestones and  
15 inability to maintain proper follow-up.

16 To conclude, post-approval studies and postmarket surveillance under Section 522  
17 are important tools for device monitoring in the postmarket phase of total product life  
18 cycle.

19 Implementing studies can be challenging because of time required to develop  
20 protocols or plans, enrollment of sites and study subjects, and/or maintaining proper  
21 follow-up.

22 The best practices will be discussed in the next presentation.

23 Here is a list of important resources that we encourage you to review, including the  
24 two draft guidance documents for post-approval studies and 522 studies that CDRH posted  
25 on the FDA web page on May 26, 2021. The draft guidances are open to comment for 60

1 days. So, to assure that the FDA considers your comment on a draft guidance before CDRH  
2 begins working on the final version, please submit either online or written comments  
3 before the close date on July 26, 2021.

4 This concludes my presentation. Thank you for listening.

5 MR. SPEER: All right. Thank you, Carolina.

6 Again, folks, a reminder. If you have questions and comments throughout the rest of  
7 the sessions and presentations today, I encourage you to submit those via e-mail. That  
8 e-mail address is oht6-feedback@fda.hhs.gov.

9 Let's move on to our next presentation, and it will be on PAS and 522 studies best  
10 practices. Our presenter is Hongying Jiang from FDA. Helen Jiang has been serving as the  
11 safety signal manager within the Office of Health Technology 6, the Office of Orthopedic  
12 Devices at FDA since September 2020. Dr. Jiang was an epidemiologist at FDA from 2011  
13 through 2016 and a lead epidemiologist and team lead of the Division of Clinical Science  
14 and Quality within the Office of Clinical Evidence and Analysis since 2016. Dr. Jiang has also  
15 been acting regulatory project manager and acting assistant director in OCEA and in the  
16 Office of Strategic Partnerships and Technology Innovation during 2019 and 2020.

17 She received her Ph.D. from the Michigan State University and worked as a  
18 bioinformatician -- and that's a word I haven't passed in a while -- and staff scientist at  
19 Michigan Technological University. She also served as the lead associate investigator at the  
20 National Institute of Allergy and Infectious Diseases at NIH from 2004 to 2011. Dr. Jiang has  
21 18-plus years of multidisciplinary experience in biology, bioinformatics, and biomedical  
22 science, and she is an expert at both premarket and postmarket reviews, regulatory  
23 research, systematic literature reviews, safety signal management, and leveraging real-  
24 world evidence to ensure medical devices safety and effectiveness.

25 Dr. Jiang.

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 DR. JIANG: Good afternoon, everyone. My name is Hongying Jiang, a health  
2 scientist, former epidemiologist, and current safety signal manager in OHT 6, the Office of  
3 Orthopedic Devices.

4 Today I would like to share some examples of mandated studies in orthopedic  
5 devices. Thank you to our reviewers who have provided this to me. For each example, I'm  
6 going to introduce the background/issues first. Then provide our recommendations on do's  
7 and don'ts. At the end, I'll recap the takeaways of those examples.

8 Before we dive into each example, I would recommend that you think about the  
9 three key questions. First, what are the common issues in the mandated studies? Second,  
10 how do sponsors deal with this? Third, what could be improved?

11 Let's look at a report from GAO, Government Accountability Office, that surveyed  
12 313 PASs and 392 postmarket surveillance studies to see the big picture on CDRH mandated  
13 study program. First, keep in mind that the data is relatively old. The PASs were those  
14 orders since January 2007 to February 2015, and postmarket surveillance studies, 522  
15 studies, ordered since May 2008 to February 2015.

16 In all PASs, ortho devices was the second most common subjects and the top one in  
17 all 522 studies as of 2015. In the report, they also summarized that 19% of ongoing PASs  
18 have inadequate progress or otherwise delayed. Therefore, today we would like to discuss  
19 do's and don'ts.

20 Per GAO's report, a key reason for a study's delay may be limited patient enrollment  
21 in the post-approval study. There are other common reasons such as high loss to follow-up,  
22 progress inadequate, study population issues, study design changes, delayed analysis and  
23 reporting. In the following slides I'm going to provide one or two examples for each  
24 category, although there could be more than one reason for each study delay.

25 The second example is about a high loss to follow-up in the PAS at later time points,

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 especially after 5 years. The original IDE study only consented patients for 5 years follow-  
2 up and many patients were lost during PMA approval and the transition to the longer-term  
3 study. Some measures were taken to mitigate the biases, such as contacting patients,  
4 incentivizing them to return to the study; however, they were not very successful. This  
5 made the final PAS report and the subsequent labeling update less informative.

6 The best practice we would recommend is to ensure patients were consented for a  
7 longer-term PAS ahead of time, employ additional efforts to encourage every patient's  
8 follow-up visits, and analyze the PAS final results as much as possible, considering the  
9 missing data.

10 One advice is don't let the perfect be the enemy of the good or exclude some  
11 patients' partial data. Please make sure the labeling reflects the true long-term  
12 performance and clearly state the limitations of the study in a final report. Also, as a  
13 caution, and due to the lack of follow-up of some patients, long-term use of the device may  
14 be limited in removing certain warnings and precautions in the approved labeling.

15 Here I've included a slide to show how the follow-up rate should be calculated. It  
16 seems simple; however, you may be surprised to see that it hasn't been used consistently  
17 across different studies. To ensure consistencies, FDA has issued a guidance document,  
18 Clinical Data for Orthopedic Device Applications. It particularly included a patient  
19 accounting table shell, Table 2.

20 The actual completed subjects, Actual<sup>A</sup>, is defined as patients with complete data for  
21 each endpoint, evaluated per protocol in the window time frame, and Actual<sup>B</sup> is defined as  
22 patients with any follow-up data viewed or evaluated by investigator, a.k.a. all evaluated  
23 accounting. Then two follow-up rates should be provided separately according to the two  
24 counts of the actual subjects.

25 So lessons learned from the above. Please report actual completed subjects per the

1 guidance. If you would like to report any additional modified actual count, please add  
2 another row to provide as additional calculations with a scientific and statistical rationale as  
3 to how the additional calculation supports the PAS study objectives.

4 Please don't modify the definition or use your own without any justifications, which  
5 may lead to over or underestimated follow-up rates.

6 The third example is about one study with inadequate progress for several years.  
7 Long story short, 8 years of the PMA approval, there were only less than 10 subjects  
8 enrolled. The study was designed to evaluate the effectiveness of the device under real-  
9 world use and to assess a clinical incidence with a control arm. There have been several  
10 protocol changes with the intention of improving enrollment such as reducing the  
11 requirement for imaging and loosening inclusion criteria. However, the sponsor has not  
12 met the proposed study timeline, to date, in either of the study arms. Finally, 8 years into  
13 the study, FDA requested the sponsor to add a warning to the labeling related to the  
14 unknown delayed safety and effectiveness relative to the comparator.

15 For this case, we recommend the sponsor to discuss delays in enrollment early and  
16 often with the FDA to try to address it as soon as possible. I can't emphasize how important  
17 it is, that please communicate early and often with us to mitigate any shortfalls and to  
18 ensure the inclusion/exclusion criteria and follow-up are as relevant to clinical use of the  
19 device as possible so that sites and subjects are willing to be involved.

20 Don't have many study protocol changes, as each major change must be approved by  
21 the FDA and the IRB prior to the implementation, and a series of agreement consent  
22 processes.

23 The fourth example is about a study population. This 522 study was ordered from a  
24 safety signal and designed for the on-label population. However, there was a large amount  
25 of known off-label use for this 510(k) device and thus, the enrolled subjects included both

1 on- and off-label populations that were not easily distinguishable. Also the off-label can  
2 drown out the on-label use.

3 Therefore, we would recommend considering the patient population for the on-label  
4 use only and make sure it's an easily identified population without or with minimal  
5 confounding factors or consider other study designs or leverage real-world data. More in  
6 the next presentation.

7 Of note, please do not use the 522 path to replace the IDE pathway for off-label use  
8 of the marketed devices.

9 As you've seen in the previous examples, study protocol could change in the middle  
10 of the study. The fifth example is an HDE study. The sponsor originally proposed to use a  
11 traditional PAS but later partnered the study with an existing registry for data collection  
12 following HDE approval. However, it was not communicated in advance to the review team,  
13 so the FDA review team approved the PAS protocol at the time of the HDE approval and  
14 soon after that, they had to review and approve the revised protocol.

15 Lessons learned from this example is that please discuss study design or any  
16 changes, your Plan B, ahead of time with the FDA team to determine the appropriate  
17 pathway, such as registries over a traditional clinical study, and resolve any differences in a  
18 timely manner.

19 Please do not send submissions without consulting FDA on the regulatory pathway.  
20 For example, some PAS study changes can be made in annual reports versus some must be  
21 submitted as supplements.

22 The last example is not a single example. Rather, it's about the unexpected changes  
23 due to unanticipated public health emergency such as the COVID-19 pandemic. During the  
24 pandemic, many clinical trials were affected, for example, study protocol deviations and  
25 changes, patients do not want to do in-person visit, or orthopedic surgeries were

1 considered elective.

2 FDA recognized the challenges and has taken actions promptly. So we strongly  
3 recommend you, please check FDA's official website for guidance. For example, FDA issued  
4 the "Conduct of Clinical Trials of Medical Products During the COVID-19 Public Health" in  
5 March 2020 and recently updated on January 27th, 2021. At the end of the guidance there  
6 are many questions and answers. There are other guidance documents also issued by  
7 different centers of the FDA for specific product areas. If you don't find your questions  
8 there, please contact the FDA team.

9 Please do not implement any changes you're not sure about or without FDA's clear  
10 instruction. For example, some study endpoints, such as radiographics and certain pain or  
11 function assessments, cannot be conducted at home through the telemedicine.

12 Finally, let's recap what we've learned from these examples. First, communication is  
13 the key. To communicate timely and effectively is a common goal for all of us. Some can be  
14 done through e-mail, but some complicated issues may be better explained by a phone call,  
15 especially when discussing numbers and figures.

16 Secondly, make good use of informational meetings, Q-submissions, and interactive  
17 reviews.

18 Thirdly, please consider leveraging real-world data and real-world evidence, which  
19 will be further elaborated in our next presentation by Dr. Lilling.

20 Here are some guidance documents for your reference. All of them have included  
21 either some examples, Q&As, or checklists. Please check them out.

22 Thank you for your attention. This ends my presentation.

23 MR. SPEER: Thank you so much, Helen.

24 Let's move on to our next presentation and, as Helen mentioned, it is about real-  
25 world evidence case studies and it will be presented by Dr. Victoria Lilling from FDA.

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 Victoria Lilling is a native of Silver Spring, Maryland. She has served as a medical officer for  
2 FDA for 5 years. Dr. Lilling attended the University of Maryland in College Park where she  
3 earned a bachelor of science degree in physiology, one in neurobiology, graduating cum  
4 laude. She was inducted into the Phi Beta Kappa National Honor Society and Omicron Delta  
5 Kappa Leadership Honor Society and won a research scholarship from the prestigious  
6 Howard Hughes Medical Institute. Dr. Lilling completed her doctor of medicine degree at  
7 the State University of New York, Buffalo School of Medicine, where she was inducted into  
8 the prestigious Alpha Omega Alpha Medical Society and graduate magna cum laude, second  
9 in her class.

10 Dr. Lilling developed her interest in treating pathology and injuries to the shoulder,  
11 elbow, hand, and wrist during an internship and residency in orthopedic surgery at State.  
12 Dr. Lilling continued her education with a fellowship in microsurgery, hand, and upper  
13 extremity at Rutgers University in Newark, New Jersey, and then shoulder and elbow  
14 surgery at Johns Hopkins University Hospital. Finally, Dr. Lilling furthered her surgical skills  
15 in shoulder arthroplasty and arthroscopy with a fellowship in France under world-renown  
16 surgeon Dr. Laurent Lafosse. Dr. Lilling is a practicing orthopedic surgeon specializing in  
17 microsurgery, hand, and upper extremity surgery in Potomac, Maryland.

18 DR. LILLING: Good afternoon, my name is Victoria Lilling and I'm a medical officer in  
19 the Office of Health Technology 6, Office of Orthopedic Devices. I will be talking to you  
20 about the use of real-world evidence to support regulatory decision making for medical  
21 devices, specifically orthopedic devices. I will start with an overview of real-world evidence  
22 and then I will discuss case examples.

23 The purpose of this talk is to (1) provide a refresher on the overview of real-world  
24 data and real-world evidence; (2) present examples of how real-world evidence has been  
25 used; and lastly, to inform you of available review resources for real-world evidence. I will

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 start by discussing real-world data and evidence definitions and background. We will begin  
2 with how real-world evidence is used in regulatory decisions.

3 This chart is an overview of how real-world data can be incorporated into the  
4 traditional regulatory pathway, such as hypothesis generation or device innovation for IDEs  
5 or preclinical testing stage. Further into the pathway, we can use real-world data and  
6 evidence for premarket applications. And finally, the real-world data can be used for  
7 postmarket surveillance and post-approval studies.

8 So how do we turn real-world data into evidence? The data is collected from a  
9 variety of sources. Then this data is analyzed and the evidence is derived from the analysis  
10 of this data. In other words, real-world data is the collection of routine patient data. When  
11 it is analyzed to inform or make conclusions about a medical device, it becomes real-world  
12 evidence.

13 An analysis of this real-world data may consist of determining the suitability of the  
14 starting data by assessing its relevance and reliability, statistical testing of a captured  
15 clinical outcome against a performance goal, and presentation of this evaluation to support  
16 a regulatory submission. There are many considerations along this process and it requires a  
17 thoughtful study design to ensure that the resulting real-world evidence is appropriate for  
18 regulatory decision making.

19 Regarding valid scientific evidence, this is defined in 21 C.F.R. 860.7(c)(1). The key  
20 aspect of this regulation is that the manufacturer may submit any form of evidence to the  
21 FDA in an attempt to substantiate the safety and effectiveness of the device and the Agency  
22 relies upon only valid scientific evidence to determine whether there is a reasonable  
23 assurance that the device is safe and effective.

24 Equally, Subpart (c)(1) also notes that the Agency will determine whether the  
25 evidence submitted or available to the FDA is valid scientific evidence for the purposes of

1 determining the safety and effectiveness of a particular device and whether the available  
2 evidence, when taken as a whole, is adequate.

3 Here we can see what is considered valid scientific evidence. It can include:

- 4 • Well-controlled investigations;
- 5 • Partially controlled or uncontrolled studies;
- 6 • Well-documented case histories; and
- 7 • Significant human experience with a marketed device.

8 On the other hand, what is not acceptable is defined in 21 C.F.R. 860.7(c)(2):

- 9 • Isolated case reports which do not have sufficient detail to allow for  
10 determination of reasonable assurance of safety and effectiveness of a device;
- 11 • Random experience;
- 12 • Reports lacking sufficient details to permit scientific evaluation; and
- 13 • Unsubstantiated opinions are not regarded as valid scientific evidence to show  
14 safety or effectiveness.

15 Such information may be considered in the overall decision with other data;  
16 however, these sources cannot stand alone to determine safety and effectiveness.

17 Now, turning to the guidance document for real-world evidence to support  
18 regulatory decision making for medical devices. We will discuss relevance and reliability,  
19 which are defined within the guidance document.

20 Relevance of real-world data is assessed by evaluating several factors to determine if  
21 the data adequately addresses the regulatory question or requirement in part or in whole.

22 It is very important to remember that real-world data sources are developed for non-  
23 regulatory purposes, for example, to document care in the case of electronic health records  
24 or to submit insurance claims for reimbursement and claims databases.

25 The FDA will assess whether the individual data elements contained within an

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 existing data source is sufficient to be used for regulatory purposes. Most importantly, real-  
2 world data should apply to the question at hand. The data must be amenable to sound  
3 clinical and statistical analysis. Real-world data and real-world evidence are understandable  
4 using informed clinical and scientific judgment. The data must be properly analyzed and  
5 turned into valid scientific evidence before it can be used for regulatory decisions.

6 Factors to consider when evaluating the relevance of the data are :

- 7 • The representative population is generalizable to the relevant population for  
8 the device;
- 9 • The data source is used regionally, nationally, and/or internationally;
- 10 • How well the data source reflects the patient population's experience;
- 11 • The data source study design should be appropriate to address the regulatory  
12 question; and finally
- 13 • The data source should adequately capture patient medical history and  
14 preexisting conditions.

15 Now, turning to the reliability of real-world data. Reliability includes factors related  
16 to overall data quality. Real-world data reliability is assessed using characteristics of data  
17 accrual. Aspects of data collection to consider are the following:

- 18 • Pre-specification of the data elements to be collected;
- 19 • Unambiguous data element definitions;
- 20 • Structured data formats for data element populations;
- 21 • Method for data aggregation and documentation; and finally,
- 22 • Time frame for data element collection.

23 Data assurance and quality includes reviewing for quality of data element  
24 population, adherence to source verification procedures, and completeness of the data and  
25 finally, data consistency across sites and over time.

1 Key elements to consider in reliability are data sources and technical data capture  
2 methods, patient selection to maximize real-world population representation and to  
3 minimize bias, as well as patient protections.

4 Now, after adequately vetting the proposed real-world data to be used as evidence  
5 using relevance and reliability criteria that we just discussed, we can look for examples for  
6 use of evidence obtained. There are many potential regulatory uses for real-world evidence  
7 in the total product life cycle. Particularly focusing on postmarket uses which include, but  
8 are not limited to, real-world data infrastructures can serve as a framework for data  
9 collection and can be leveraged for post-approval studies. Real-world evidence can be used  
10 to identify safety signals for medical devices. And lastly, evidence from postmarket setting  
11 can support indication for use expansions and future device innovation, which is one way  
12 the total product life cycle comes full circle.

13 Next, I will discuss some examples of sources of real-world evidence that are  
14 commonly used to support regulatory decisions.

15 There are many sources of real-world data that can be leveraged for regulatory  
16 decisions. Here are some examples of real-world data sources:

- 17 • Electronic health records
- 18 • Claims and billing activities
- 19 • Product and disease registries
- 20 • Patient-generated data, including in-home use settings
- 21 • Data gathered from other sources that can inform on health status, such as  
22 mobile devices and reported events from device manufacturers, importers,  
23 device user facilities, and device users

24 Some of the expected benefits from the use of real-world evidence include:

- 25 • More efficient data collection

- 1 • Reduced cost
- 2 • Novel devices becoming available to patients more quickly

3 Also, real-world evidence can provide a better reflection of real-world device  
4 performance as it facilitates collection of outcomes which are not always feasible in  
5 traditional trials. This includes device performance in diverse patient populations and  
6 subgroups, as well as longer-term outcomes.

7 Overall, it is envisioned that the use of real-world evidence will reduce regulatory  
8 burdens and better meet the needs for public health and innovation.

9 CDRH recently published, in 2021, selected examples highlighting examples of real-  
10 world evidence used in medical device regulatory decisions. I will briefly describe  
11 orthopedic device examples which are highlighted in this publication.

12 The Hintermann Series H3 Total Ankle Replacement System, which is indicated for  
13 the use as a non-cemented implant to replace a painful arthritic joint due to primary  
14 arthritis, post-traumatic arthritis, or arthritis that can carry to inflammatory disease. The  
15 H3 system has been commercially available in Europe since 2003. Real-world evidence  
16 sources considered in this submission were outside the U.S. or OUS registry data,  
17 performance goals derived from a meta-analysis that included published literature and  
18 safety data. Real-world evidence was used in both the premarket and postmarket  
19 submissions. The premarket submission real-world evidence was used as the primary  
20 source of clinical evidence. For the postmarket submission, the post-approval study  
21 consisted of longer-term follow-up in OUS registry.

22 The next example is The Tether - Vertebral Body Tethering System, which is  
23 indicated for skeletally immature patients that require surgical treatment to obtain and  
24 maintain correction of progressive idiopathic scoliosis with a major Cobb angle of 30 to 65  
25 degrees, whose osseous structure is dimensionally adequate to accommodate screw

1 fixation as determined by radiographic imaging. Patients should have failed bracing and/or  
2 be intolerant to brace wear. HDE approval was based on a single-center, nonrandomized  
3 clinical study under IDE application G150001 in 57 subjects, 47.4% of whom reached  
4 skeletal maturity by the time of the database lock. Real-world evidence was collected from  
5 retrospective review of medical records. A post-approval study embedded within a patient  
6 registry was utilized to mitigate uncertainties related to probable benefits of the device for  
7 longer-term prevention of spinal curve progression and avoidance of spinal fusion, as some  
8 patients were still growing and remained at risk for curve progression. Use of real-world  
9 evidence collected in a post-approval registry allowed CDRH to balance premarket and  
10 postmarket data collection requirements and facilitate timely patient access to this  
11 important new technology without undermining patient safety.

12         The next example is the Ceramax Ceramic Total Hip System, which is indicated for  
13 non-cemented use in skeletally mature individuals undergoing primary total hip  
14 replacement surgery for rehabilitation of hips damaged as a result of non-inflammatory  
15 degenerative joint disease or any of its composite diagnoses of osteoarthritis, avascular  
16 necrosis, and post-traumatic arthritis. Primary clinical data consisted of prospective,  
17 multicenter, single-blind, controlled clinical study which included 264 subjects. The United  
18 Kingdom and Australian National Joint Registry data was leveraged for postmarket  
19 evaluation in addition to a long-term follow-up of previously enrolled subjects and a cohort  
20 of new enrollment subjects.

21         And finally, the last example is the activL Artificial Disc, which is indicated for  
22 reconstruction of the disc at one level, L4/L5 or L5/S1, following single-level discectomy in  
23 skeletally mature patients with symptomatic degenerative disc disease with no more than  
24 Grade 1 spondylolisthesis at the involved level. The activL Artificial Disc is implanted using  
25 an anterior retroperitoneal approach. PMA approval was based on a prospective,

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 multicenter, randomized controlled, non-inferiority clinical trial which included 324  
2 subjects. In addition to conducting two long-term post-approval clinical studies, the  
3 sponsor was required to provide data as part of the PMA annual report from an explant  
4 analysis retrieval study that will be conducted for 10 years and include clinical narratives,  
5 copies of operative reports from the original surgery, operative reports from subsequent  
6 surgeries and the explant surgery, pathology reports, and conduct an explant analysis.  
7 Note, the collection of explant retrieval data is identified as a condition of approval for  
8 other total disc replacement devices and is considered a type of real-world evidence.

9       Clinical evidence for devices comes in many forms across the total product life cycle,  
10 including real-world evidence.

11       Supporting evidence generation with relevant and reliable real-world evidence can  
12 facilitate timely access to safe and effective medical devices.

13       High-quality real-world data sources are strategically positioned to further enhance  
14 the care of patients and device safety and effectiveness.

15       Real-world evidence plays a very important role in premarket and postmarket device  
16 evaluation and surveillance.

17       Thank you.

18       MR. SPEER: Okay. Thank you, Dr. Lilling.

19       And let's move on to our next presenter, and this comes from industry, and it's an  
20 industry perspective on PAS and 522 studies, and the presenter is Paul Coplan with Johnson  
21 & Johnson. Paul is the vice president of epidemiology and real-world data sciences for  
22 medical devices at Johnson & Johnson, leading a team of epidemiologists, data  
23 programmers and biostatisticians. Paul completed a B.S. honors in biochemistry and  
24 physiology at the University of Witwatersrand, and master's in public health and nutrition at  
25 the University of Massachusetts, Amherst, a doctor of science in epidemiology and

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 biostatistics at Harvard School of Public Health, and an M.B.A. at Wharton Business School  
2 at the University of Pennsylvania. Paul has been a pioneer in using epidemiology and real-  
3 world evidence to develop new vaccines, medicines, and medical technology and to assess  
4 postmarket safety and effectiveness. So let me hand it off to Paul.

5 DR. COPLAN: Good afternoon. My name is Paul Coplan, I'm the vice president of  
6 epidemiology and real-world data sciences in Medical Devices at Johnson & Johnson. Thank  
7 you to the organizers for the opportunity to speak today and thank you for your attention.  
8 The topic of my presentation is implementing PAS and 522 studies, providing an industry  
9 perspective.

10 My disclosure is that I'm an employee of Johnson & Johnson, but the opinions  
11 expressed in this work are my own and not those of Johnson & Johnson.

12 I'll be talking on how PAS and 522 programs run within a company; difficulties in  
13 complying with most postmarket programs for PAS and 522 studies; examples from FDA's  
14 RWE examples document that was recently put out; addressing the relevance and reliability  
15 of RWE; what improvements can be made by FDA; and how can industry and the FDA work  
16 together to better these programs.

17 Firstly, how PAS and 522 studies are conducted depends on the research question  
18 and the best data source to address the best research question. If it's a new device with no  
19 registry or available RWE, then an IDE rollover clinical study is used and it would be done by  
20 a clinical team or clinical operations team. If there's a registry available for a commonly  
21 used implantable device such as a hip and knee replacement, one of the registries will be  
22 used and it would probably be a different group that will conduct the study.

23 We've also seen recently an example of a label extension where the label -- where  
24 there was extensive use of the product for the intended indication available in electronic  
25 health record databases, and even though the label extension itself was based off an IDE

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 study, the PAS study used an electronic health record database and this was in the Office of  
2 Health Technology 2 for a cardiovascular product. And this would be done by a different  
3 team.

4 So what are the difficulties in complying with a PAS or 522 study program? Firstly,  
5 difficulties in enrollment. One of the things that we've seen is the market can move  
6 towards product configurations identified or perceived to perform better, so surgeons  
7 won't use that particular construct. For example, in a study of a hip replacement device  
8 implant with smaller hips and larger hips, there was a move towards larger hips by surgeons  
9 because it was perceived to have certain advantages, whereas making the use of smaller  
10 femoral hips is very rarely used. Therefore, it turned out to be very difficult to enroll study  
11 subjects in the PAS for smaller femoral hips.

12 There's also difficulties in retention. Longer-term follow-up is difficult to achieve in  
13 IDE rollover studies or company sponsored registry studies, particularly 5- or 10-year  
14 follow-up visits and particularly for patients who have no problems to come back for 6, 7, 8,  
15 9 years is burdensome.

16 The other thing is that patients move away from the clinical study site. For example,  
17 they may retire and move to Florida, so it's very difficult for them to come back to their  
18 original study site for a follow-up visit.

19 It turns out to be easier to achieve 5- or 10-year follow-up in registries that are able  
20 to link the registry to other mechanisms of following up patients, so particularly in countries  
21 where there's cradle-to-grave follow-up systems, such as Sweden or the UK, and these X  
22 years registries can thereby achieve easier 5- to 10-year follow-up.

23 There are also barriers to using such data as part of PAS studies. There's a desire by  
24 the FDA that the sponsor demonstrates matching of patient progression and device usage  
25 between the OUS registry population and the U.S. population, for example, if there were

1 similar stages of osteoarthritis pathway.

2 Another issue is the barriers to using electronic health record or hospital  
3 administration databases where the onus is on the sponsor to demonstrate the reliability  
4 and relevance of RWE. And I'll talk about that a little bit further.

5 So an example in the FDA document that we just heard about is, was the use of an  
6 orthopedic example for a PAS study using an OUS registry. This was for the Ceramax  
7 ceramic-on-ceramic hip replacement. It was approved by an IDE using a PMA approval with  
8 a PAS requiring a condition of approval.

9 Now a little bit about that PAS study. The PAS study included two constructs, the  
10 28 mm femoral hip, the smaller hip and the bigger hip, and the primary endpoint was either  
11 5 years or 10 years. And for the 36 femoral hip there were three studies, the last one used  
12 joint registry data from the England and Wales National Joint Registry and the Australian  
13 Orthopaedic Association National Joint Replacement Registry.

14 So what we can see from this is PAS studies were designed for each configuration of  
15 the device, and the OUS registry data was accepted as part of the third PAS to provide  
16 supplemental information to the IDE rollover and newly enrolled subjects components of  
17 the data.

18 So addressing the relevance and reliability of RWE, this is a long topic and the FDA  
19 has a great guidance on this, put out in 2017. But I wanted to provide one recent example  
20 from a NEST test case. This was a test case for an intervertebral body implant or IVBI using  
21 electronic health record database. The study used the delta method to compare the  
22 treatment IVBI with a comparator IVBI and this type of study could be used for a PAS study.

23 So the goals were to test the feasibility of conducting a PAS study of a lumbar IVBI  
24 and to apply surveillance tools such as the delta method to evaluate IVBI device safety. The  
25 study was conducted by Lahey Health System using the data warehouse of Lahey. Lahey is a

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 five-hospital network in eastern Massachusetts. They use Epic EHR in all the five hospitals  
2 for all inpatient and outpatient clinic activities. They have records of over 3.4 million  
3 distinct individuals and in addition, they collect PROs and radiographs for all spine surgeries  
4 at set time points.

5 The study endpoints were the proportion of patients undergoing any spinal  
6 reoperation within 12 months at the time, and the secondary endpoints at the time of  
7 surgery and need for blood transfusion, and it was 12 months since surgery, mortality,  
8 hospitalization for surgical site infection, and any repeat hospitalization. The comparator  
9 was a comparator IVBI. We used propensity score matching and weighting to adjust for  
10 confounders. We also included a negative control which provided an outcome unrelated to  
11 spine surgery to assess if there was residual confounding between the two groups.

12 These are the results for the primary and secondary endpoints. The p-value shows  
13 there was no significant difference between the treatment IVBI and the comparison IVBI,  
14 424 subjects, matched subjects in each group. So overall, the conclusion of the report was  
15 there was no signal detected in the outcomes with a 0.05 significance level. So this is one  
16 example of how PAS studies could be done using electronic health records database.

17 Now, what improvements can be made by FDA? Well, PAS studies are designed with  
18 good scientific principles at the point of product approval, but they may not be feasible to  
19 implement because, as we've mentioned, there's preference for data on each device  
20 configuration which may or may not account for shifts in the preference for the different  
21 configurations of a device, and also the length of follow-up, which is very difficult to collect  
22 in a U.S. based standard clinical trial or registry.

23 It also would be a great improvement if FDA could adopt greater flexibility to use  
24 real-world data to reflect what's happening in the real world, such as more flexibility in  
25 accepting OUS national registry data for long-term follow-up and some of the things that

1 could be relaxed here is the FDA's desire to demonstrate comparability of populations, OUS  
2 and in the U.S., and also could RWE become the sole source of evidence rather than  
3 adjunctive to IDE continuation of patient enrollment in PAS. Registries are larger, more  
4 representative of general usage patterns, and also provide a more diverse surgeon  
5 experience level.

6 A further factor about real-world evidence is it -- for inclusion of racial and ethnic  
7 minority subgroups, it's much easier to represent those groups through real-world evidence  
8 data sources.

9 Another factor in the flexibility around using real-world evidence is real-world  
10 evidence which comes from a healthcare system's electronic health record databases where  
11 the data needs to be fit for purpose. And one of the things we've seen in identifying  
12 whether the data is fit for purpose, in other words, is it relevant and reliable as indicated in  
13 the FDA guidance, is a checklist where the data source has to meet every component of  
14 relevance and reliability.

15 And what could improve things greatly in terms of the adoption of this kind of data,  
16 which is a very rich source of data, widely available, but has been used very little to support  
17 regulatory decisions to date, is a risk-based assessment of real-world evidence reliability  
18 and relevance.

19 So what does a risk-based assessment mean? It is to evaluate for each of the  
20 components that are listed in RWE guidance, would that component have an impact on the  
21 results or not. And what that means is that, for example, if we're comparing two groups,  
22 say one IVBI against another IVBI, in the same real-world data source, if there is less than  
23 complete follow-up in both groups, well, in comparing the two groups there is equivalence  
24 between the groups because they both have that issue and so the question is whether there  
25 is differential follow-up in the one group versus the other that would bias the results when

1 comparing the two groups. So that reflects a risk-based assessment to understand is that  
2 particular factor -- would it influence the results or is it because it's the same in both  
3 groups, it wouldn't be a differential factor.

4 So in summary, the key points are the feasibility of the study and one consideration  
5 is could FDA consider an adaptability of PAS study design and as well as milestones that are  
6 based on market usage. In other words, if there's a certain amount of market usage, then  
7 those particular configuration milestones kick in, but if the market usage is too low, then  
8 perhaps the focus is on the other constructs where there's greater market usage.

9 And secondly, flexibility in accepting RWE. And one thing that would be interesting  
10 to consider is would the Office of Health Technology 6 consider an example of using an  
11 orthopedic PAS study using real-world evidence from a healthcare system's electronic  
12 health record database. Just as the Office of Health Technology 2 has adopted one for a  
13 cardiovascular product, would the orthopedics group consider trying one out and seeing  
14 how it works to advance the use of RWE to support regulatory decisions?

15 Thank you very much and I look forward to the questions and discussion.

16 MR. SPEER: Okay. Thank you, Paul.

17 We're going to move now to our next Menti survey and it's presented by Michael  
18 Owens from FDA. Michael joined FDA in 2007 as a collaborative reviewer, splitting time  
19 between what was then called the Office of Device Evaluation and the Office of Surveillance  
20 and Biometrics. In 2019 he became the assistant director within the Division of Joint  
21 Arthroplasty Devices with the primary responsibility of leading the shoulder team.

22 So Michael, I'll let you go ahead and start the Menti survey.

23 MR. OWENS: Thank you, Jon. And I hope everybody has enjoyed Session 2 as much  
24 as I have so far. I'm just going to go through a few questions that we drafted based on the  
25 content of the session and the talks that you just heard. I'll read each question in totality

1 and then allow for time due to the delay in this broadcast, as well as time to give answers.

2 So let's go ahead and get started. The first question is: Please briefly state what is  
3 the biggest challenge you have encountered while implementing a post-approval study or  
4 522 study.

5 Earlier in the talks we saw enrollment, continued follow-up continues to be a  
6 challenge that we've observed. I'm curious to see what the audience thinks.

7 (Pause.)

8 MR. OWENS: I see some answers coming in. Thank you, everybody. Very  
9 interesting, very interesting. All right, I'm going to move on to our next question.

10 The next question is: Can you briefly state what you would do if you have a post-  
11 approval study or 522 study that is marked as "Progress Inadequate"?

12 I hope that communicating with the Agency is a part of your answer, as we always  
13 encourage that.

14 (Pause.)

15 MR. OWENS: All right, thank you very much for those that responded. For the sake  
16 of time, I'm going to move on to the last question.

17 And the last question is: Which real-world data source do you think will be most  
18 effective to produce viable real-world evidence for use in regulatory decision making?

19 (Pause.)

20 MR. OWENS: I see electronic health records coming in, yes, that was one that we  
21 saw as a part of Dr. Lilling's talk. Also the Agency is very, very involved in registry work. I  
22 see hospital data linked to Medicare data and EHRs. The FDA would be interested to hear  
23 who submitted that answer and what their thoughts are on that. A registry. As I  
24 mentioned, we're doing a lot of work in that space. And retrieval and explant analysis as  
25 well as literature. Again, all excellent data sources that we investigate routinely. I want to

1 thank everybody for participating in this Menti survey and I'm looking forward to a great  
2 group discussion.

3 MR. SPEER: Okay. Thank you so much, Michael.

4 And we are going to transition now to the group discussion. I want to remind the  
5 moderators and the panelists that we do have a limited amount of time, so brevity is  
6 important, but obviously we want to get into some of these topics.

7 Let me introduce the moderators. First, Nilsa Loyo-Berrios is a Ph.D. and she's with  
8 FDA, she's the deputy director in the Division of Clinical Science and Quality, Office of  
9 Clinical Evidence and Analysis in the Center for Devices and Radiological Health. She joined  
10 FDA in 2005 and worked as a regulatory epidemiology reviewer in the clinical areas of  
11 obstetrics and gynecology, cardiovascular, gastroenterology, urology, and respiratory  
12 medicine. She has extensive experience on the application of epidemiological principles,  
13 particularly on the observational methodologies and analyses to the evaluation and  
14 surveillance of medical devices through the total product life cycle. As deputy director, she  
15 provides oversight of methodologies development and analyses, infrastructure  
16 development, and partnerships and development and interpretation of policies for  
17 mandated studies, including PAS and 522 studies.

18 The other moderator is Russell Schenck with Zimmer Biomet. Dr. Schenck has almost  
19 30 years of experience in all areas of planning, execution, analysis, and reporting on  
20 company sponsored clinical studies designed to gather evidence required for regulatory and  
21 business needs. He's currently responsible for global clinical strategy for Zimmer Biomet  
22 reconstructive and sports extremities and trauma businesses. Before joining industry, he  
23 resulted in or did work in clinical research design and statistical analysis at the University of  
24 Michigan, and a Ph.D. in evolutionary biology from Rutgers University. He held academic  
25 positions at UM and the College of William and Mary.

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 Now let me do a brief introduction of the panelists. Joining from FDA is Dr. Hong  
2 Cheng, he's the lead health scientist for the Office of Clinical Evidence and Analysis in CDRH  
3 at FDA. Dr. Cheng received his M.D. in 1982 from West China University of Medical  
4 Sciences, and his Ph.D. in epidemiology from the University of Alabama at Birmingham in  
5 2000. Dr. Cheng joined FDA in 2008 as an epidemiologic reviewer in the Office of  
6 Surveillance and Biometrics. Before joining FDA, Dr. Cheng had worked in academia  
7 teaching students and conducting epidemiological research for more than 20 years.

8 Our next panelist is Dr. Veronica Sansing-Foster. She is an epidemiologist at FDA's  
9 Office of Surveillance and Epidemiology. She earned an honors B.A. in psychology from the  
10 University of Chicago in 1999, and earned her master's and Ph.D. in psychiatric  
11 epidemiology from the University of Pittsburgh Graduate School of Public Health in 2008  
12 and 2010 respectively. Dr. Sansing-Foster began her career at FDA in 2010 and has been in  
13 both CDRH and CDER. Since 2019, she's currently worked as a senior epidemiologist in the  
14 Office of Clinical Evidence and Analysis at CDRH.

15 Also joining the group discussion are some of our earlier presenters: Dr. Helen Jiang,  
16 Dr. Carolina Alvarez-Garriga, and Dr. Paul Coplan.

17 So proceed, moderators, with the discussion.

18 DR. LOYO-BERRIOS: Thank you, Jon. I want to start by thanking the presenters for  
19 the great presentations that we just heard, and the panelists for staying with us and joining  
20 us for the great group discussions.

21 I wanted to start with a question to Dr. Carolina Alvarez-Garriga. Thank you for the  
22 overview of post-approval study and 522 program. You mentioned the Agency recently  
23 released two draft guidance documents with updates to the programs. Can you briefly  
24 describe what those updates are and what was the rationale behind those updates? And  
25 remind us of the public comment period and when it ends so we can provide valuable input

1 to the Agency.

2 DR. ALVAREZ-GARRIGA: Thank you, Nilsa.

3 Very briefly, because I know we have a very short time for our discussion. The  
4 updates for the draft guidances include the recommendations to help facilitate FDA's  
5 review of post-approval study protocols or 522 study in a timely manner. Also, the  
6 guidances include recommendations for study timelines including enrollment milestone and  
7 study completion. Revised definitions to post-approval study statutes -- for example, a  
8 study ongoing or delayed, is that progress adequate or inadequate, and including -- like  
9 well-designed -- as we believe that everyday progress of the post-approval study, and  
10 revised FDA review time goals for post-approval study submissions, talking about post-  
11 approval study -- very similar for 522 study draft guidance.

12 And the rationale for these updates is to minimize the challenges that have to be  
13 extensively described in the referent presentations for decision, to minimize the challenges  
14 implementing both market mandated studies including the time it can take to get  
15 agreement and approval of the study protocols in case of possible study or study plans for  
16 522 studies. To also take into consideration slow enrollment of the study sites and subjects  
17 and they need proper follow-up rates. Some of the proposed update policies are intended  
18 to ensure these studies are initiated and completed -- and that the FDA review of the data  
19 from those studies is efficient.

20 Also important to highlight, as I mentioned in my presentation, these draft guidances  
21 include important documents, links to documents for other approved guidances that were  
22 not included in the guidance, in the documents from -- that were published a few years  
23 back. And the most important, the most important reminder is that the two draft guidances  
24 documents for post-approval study and 522 studies were posted on the FDA web page on  
25 May 26, 2021 and are open for 60 days for comments. So it is very important to ensure that

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 FDA considers your comments before CDRH begins working on the final version and that  
2 requires comments before the closing on July 26, 2021, which is the most important thing  
3 to remember.

4 DR. LOYO-BERRIOS: Thank you, Carolina.

5 So a reminder, people, go and read those guidance documents and provide feedback  
6 to the Agency.

7 So I'm going to let Russell then go over some of his questions.

8 DR. SCHENCK: Thank you, Nilsa, and thank you to the panel, particularly for this  
9 session. Very informative and very well organized and of great interest to myself, having  
10 been in industry for a long time.

11 A general question for the panel, FDA, and industry. As the demand for orthopedic  
12 procedures has expanded in recent years, many clinicians are no longer following their  
13 patients annually as part of their standard of care, right? These studies are therefore  
14 burdensome for investigators and patients. When we are required to do annual follow-ups  
15 involving clinic visits, they don't mirror real-world standards of clinical practice. Presuming  
16 acceptable follow-up compliance, is the Agency comfortable with post-approval study  
17 designs that don't require annual clinic visits?

18 And maybe a second part of that question, you know, in this digital era where  
19 telemedicine and remote patient monitoring are becoming increasingly part of our  
20 healthcare systems, are there wearable sensors and those sorts of technologies that could  
21 provide us with data that would be reliable and useful in post-approval settings? And I'll  
22 listen, thank you.

23 DR. SANSING-FOSTER: Thank you for asking that wonderful question. Now, while  
24 in-clinic visits still may be necessary for certain assessments in the post-approval studies,  
25 FDA is willing to engage in discussions with manufacturers on how they can incorporate

1 these virtual visits into their post-approval studies. Now, the beauty of the virtual visits,  
2 they can add the benefit of easier follow-up for patients who may not be able to make it to  
3 their in-person appointments. This may be especially important for patient-reported  
4 outcomes. For instance, instead of subjective reports of pain with physical activity as  
5 reported retrospectively by patients at the clinical visits, a wearable sensor in real time  
6 could record that physical activity plus the amount of pain associated with that particular  
7 activity.

8           So we are very open to discussions. So we don't see that in-clinic visits will be  
9 completely eliminated. We are definitely open to discussions with you when you start to  
10 design your post-approval studies.

11           DR. LOYO-BERRIOS: Thank you, Veronica.

12           So one of the aspects that we have been talking about is the flexibility in terms of  
13 changes to ongoing studies that are not progressing as expected and maybe, you know, due  
14 to changes in the market and how the devices are being used or not. One aspect of being  
15 able to do that is having availability of infrastructure that could serve -- you know, to  
16 provide that relevant and reliable data and evidence.

17           So I wanted to touch upon ongoing efforts, so if Dr. Hong Cheng can speak briefly  
18 about the ongoing ortho CRNs within the offices of NEST and MDEpiNet.

19           DR. CHENG: Sure, thank you. As we know now, NESTcc is well known actually as a  
20 coding research center and collaborative community. Also is a correlated network of  
21 orthopedic registries in the U.S.. Together with MDEpiNet, they all support using real-world  
22 data and real-world evidence for medical device evaluation.

23           Within the framework of NESTcc, there are some examples of projects well worth  
24 mentioning. First one to talking about the project for the establishment of or development  
25 of objective performance criteria for revisions and quality of life changes after -- as major

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 outcomes after hip and knee replacements. The use of OTC (ph.) in contrast to use of  
2 concurrence controls can help designing a study in a more efficient way, which may benefit  
3 stakeholders in terms of time and the cost.

4 The second example I'd like to mention is the linkage between ortho -- I mean, the  
5 ortho CRN and the state and bill code claims data, that way it can expand the capacity of  
6 registry, advance registry efforts, including validation of complications, increasing follow-up  
7 rate and follow-up events and allowing adjustment for confounding facts of comorbidities  
8 and may obtain more patients' characteristic information. These projects could be -- yeah.

9 (Cross-talk.)

10 DR. LOYO-BERRIOS: I'm sorry, Cheng, I don't mean to cut you off, but we're running  
11 out of time --

12 DR. CHENG: Sure.

13 DR. LOYO-BERRIOS: -- and I wanted to give some opportunities for the industry  
14 representative, Dr. Coplan, to speak a little bit more about what would you recommend to  
15 both the FDA and industry in terms of what can be done. I think we focused on reliability,  
16 which is important, but relevance is an aspect that we shouldn't forget and when we're  
17 using data from outside of the U.S., ensuring that data can be generalized to the U.S.  
18 population is very important. So can you speak to what we can do for both of us, industry  
19 as well as FDA, to ensure that we have both components, relevance as well as reliability?

20 DR. COPLAN: Thank you for that question. Yeah, it's a difficult one because  
21 oftentimes how the product is used is different in Europe and Australia to what it is in  
22 Europe. And in the U.S. So generally, the question would be whether the usage of the  
23 device is as it's used in the OUS registries which substantially influences the outcomes,  
24 particularly if we're looking at safety outcomes for the device as it's indicated in the U.S. So  
25 that requires somewhat of a risk-based assessment because real-world evidence doesn't

1 give us exactly what we need because it's used in the real world, as was mentioned earlier,  
2 and therefore there has to be some kind of risk-based assessment of whether that would  
3 substantially influence the outcomes. Thanks.

4 DR. SCHENCK: I have a question for our FDA staff here. I've been around a long time  
5 and I'm not sure if all of you have been around long enough to recall the -- almost 10 years  
6 ago, many of the large orthopedic companies were provided a Section 522 PMS order  
7 relating to metal hip articulations. This was a very difficult issue, a challenging issue. Some  
8 companies have satisfied that obligation in the interim 10 years and others remained  
9 marked as progress inadequate.

10 My questions are, you know, did FDA generally achieve its objectives with that  
11 particular Section 522 order in orthopedics? What were some of the key challenges and  
12 solutions? What worked, what didn't work? And then finally, is there a plan to further  
13 communicate what was learned on that particular 522 study, either through peer-reviewed  
14 publications or other kinds of FDA communications? Thank you, I'll listen.

15 DR. JIANG: Hi, this is Helen. I can take this question. Can you hear me clearly?

16 DR. SCHENCK: Yes.

17 DR. JIANG: Yeah, this is a very good question, Russell, and it's a challenging one. So  
18 to briefly answer your question as cognitive of the time, FDA has this topic website for  
19 posting the post-approval study and also the 522 studies, including their study designs and  
20 the final results. And for this particular case that you mentioned, metal-on-metal hip  
21 replacement, we have actually a new website designated for putting all of the information  
22 related to metal-on-metal, those hip replacement implants, okay. So in that, particularly,  
23 there is a -- I can share the link to the website and in that there is a particular section about  
24 all the FDA activities, including major -- like, four parts of the information. First is about the  
25 rule change, all of them need to be changed to be a PMA submission with clinical data and

1 secondly, we summarized the interim results for two types of studies, clinical study and the  
2 retrieval analysis from all of the 522 studies on the website, as well. Also, FDA has helped  
3 with the standards group to develop some new standards and we also have invested a lot in  
4 the research and publications besides some peer-reviewed articles -- other white papers or  
5 technical papers. So I think more information can be found at the website. Thank you.

6 DR. SCHENCK: Thank you, Dr. Jiang.

7 DR. LOYO-BERRIOS: Thank you to the panelists. I'm not sure how we're doing in  
8 terms of time, do we have time for some additional questions? Okay, so we have some  
9 time. I'm going to have another question for Helen and that is I know that it can take time  
10 to get agreement and approve the study protocols, so can you speak to examples of those  
11 specifics and how to communicate effectively and getting agreement to those protocols so  
12 they can be approved in a timely fashion and the studies can be started in a timely fashion?

13 DR. JIANG: Thank you, Nilsa, for that question. Yeah, some of those I already  
14 presented in the slide, that is, you know, FDA team is very open to work with the company  
15 interactively. You know, I like what Linda summarized at the end of the last session, is the  
16 bottom line is if you have anything that you're not sure about, you have a question about,  
17 come to the review team, you know, to work with us.

18 So in terms of communications, some people prefer like written e-mails, you know,  
19 we're not using the old-fashioned letters anymore, but it could also be phone calls or  
20 teleconferencing right now. So it depends on the needs of the question, how big is the  
21 question. You know, so I think there are different common senses about how to reach to  
22 FDA. So in general, you can imagine our reviewers are very busy with a lot of reviews in  
23 their queue, so you don't want to expect them to answer your call right away to make any  
24 decisions. Okay, so we normally would recommend you to send an e-mail first. If there are  
25 complicated issues with long histories or some numbers or figures you want to discuss,

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 more complicated issues, maybe setting up a teleconference would be better so that we  
2 can share a screen to understand better what's the problem and how to address them. So  
3 in general, our team is very flexible and we welcome different ways of communication and  
4 then keep in mind that, you know, give us reviewers some time to think about that decision,  
5 we may not be able to answer your questions right away.

6 DR. LOYO-BERRIOS: Thank you, Helen.

7 We heard from Dr. Lilling's presentation of several successful cases in which RWE  
8 was used for regulatory decisions. I know she's not joining us at the panel, but Dr. Sansing-  
9 Foster, can you maybe talk a little bit about what made those specific databases and the  
10 methodologies successful? Can you give us a little bit more details?

11 DR. SANSING-FOSTER: Sure, I can give you a brief overview of the details and it all  
12 goes back to the cornerstones of relevance and reliability, but we also have to consider the  
13 benefits.

14 Now, regarding relevance, Hintermann, Tether, and Ceramax, they leveraged  
15 preexisting patient registries which were real-world data sources that were designed for  
16 non-regulatory purposes.

17 Now let's look specifically, just for time's sake, at Hintermann and Ceramax and this  
18 actually all ties back to Dr. Coplan's presentations. They used preexisting outside-the-U.S.  
19 registries in Switzerland and the UK and Australia, and certain models of these devices had  
20 prior approval outside of the U.S., which means that they were able to get postmarket data  
21 at the time of approval and, in addition, outside-of-the-U.S. registries. And healthcare  
22 systems -- well, the healthcare systems specifically can follow a patient from cradle to the  
23 grave, so you have greater ability for long-term data. So that is a general overview of some  
24 of the things that made Hintermann and Ceramax very successful.

25 DR. LOYO-BERRIOS: Thank you. I'm looking at my notes here. So Helen or to the

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 FDA panelists and Paul, please chime in as you see you could, one of the challenges with the  
2 post-approval studies, you know, once the device is on the market patients can get access  
3 to the device who were not necessarily joining a post-approval study and investigators may  
4 not be as interested in doing any post-approval studies compared to premarket studies  
5 where new stuff or new technology is being evaluated. So can you share your thoughts  
6 around how to overcome those two challenges? Maybe start with Paul, just to get the  
7 industry perspective on that.

8 DR. COPLAN: I think that's one of the advantages of real-world evidence, because in  
9 real-world evidence you could capture the usage as it's occurring. You don't specifically  
10 have to enroll patients into a study, you could be studying them as usage is occurring. If it  
11 is enrollment in -- it requires patient enrollment or rollover from the IDE clinical study, then  
12 generally incentives have to be provided both to the clinician and to the patient. And  
13 generally, what we've found is some of the surgeons that are less likely to be participating  
14 in other studies would be more likely to participate in these studies as a way to kind of get  
15 involved in doing -- get started in doing clinical studies.

16 DR. LOYO-BERRIOS: Thank you, Russell (sic). That's really key, you know, for being  
17 able to use real-world evidence and I keep going back to the relevance aspect because I  
18 know reliability is very important, but when the reviewers are looking into a submission, the  
19 data needs to be relevant with regards to the request, the regulatory submission that is  
20 being requested, like the indications for use, the population and all that.

21 Any other comments from our FDA colleagues?

22 DR. COPLAN: If I could just add another quick comment there. You know, I think the  
23 guidance document is extremely helpful in determining and defining what's relevant and  
24 reliable. From an industry perspective, you're going to need like a recipe book on how to  
25 do real-world evidence and from that context, the guidance is actually quite hard to identify

1 like a checklist of what elements does the sponsor need in their real-world evidence. So  
2 one thing to consider is whether FDA, either through -- and perhaps through MDIC or some  
3 other kind of cross-collaboration forum or MDEpiNet, would consider creating a checklist  
4 for relevance and reliability and data quality, exactly what elements are needed, so that the  
5 sponsors can kind of work through that and it simplifies our job in producing evidence to  
6 document the relevance and reliability.

7 DR. SCHENCK: Thank you for that comment, Paul.

8 DR. LOYO-BERRIOS: Thank you, Paul.

9 DR. SCHENCK: As another industry representative, I think that would be very useful.

10 DR. LOYO-BERRIOS: Yeah, I agree. And I see Michael Reese (ph.) from the FDA  
11 nodding, so I think they agree, as well. So that's one good take-home message from this  
12 session is maybe having better clarity from the FDA side in terms of what is needed, you  
13 know, for regulatory decisions when it comes to the relevance and the reliability and having  
14 a checklist may be one option.

15 So I think we are nearly at the end of our time, so I'm going start with a summary. I  
16 think what I heard is that these studies are very important for the continued evaluation of  
17 the devices as they get to the market and to assess safety signals when it comes to the  
18 postmarket surveillance under Section 522. As such, they're very important and very  
19 important that they get started, initiated, and completed in a timely fashion.

20 Now in reality, there are challenges while implementing those studies and we, FDA,  
21 acknowledge those challenges, right? It can take time to approve a protocol or a plan and  
22 even when we have those approved in a timely fashion, then we can have problems with  
23 slow enrollment and then data quality, follow-up that is differential by the groups and so on  
24 and so forth. So we heard about flexibility once the devices get on the market, the need for  
25 flexibility, because the device may not be distributed or used as expected, in which case

1 there will be slow enrollment. And we heard about the examples where real-world has  
2 been successful for regulatory decisions.

3 So I thank you all for the great presentations and the great discussion. I'll let Russell  
4 do some summarizing from his side.

5 DR. SCHENCK: Yeah, I think you summarized well, Nilsa. You know, as gratifying,  
6 frankly, to me to see really what I consider substantial improvements in the collaborative  
7 effort to accomplish our mutual goals with the Agency, I can tell you, as I said, having been  
8 around this industry for a long time, the flexibility that we see, the interest in regular  
9 communication and working together because these really are mutual goals, right, and they  
10 are, as always, in the interest and the best interest of the patients. So thank you to the  
11 panel, and that's all I have.

12 MR. SPEER: Okay.

13 DR. LOYO-BERRIOS: Thank you. We give it back to Jon.

14 MR. SPEER: Okay, great. Russell and Nilsa, thank you so much and thank you to the  
15 panelists, as well as to all of the Session 2 presenters. Great information shared in this, as  
16 well.

17 So now we're going to take a quick break, we're going to come back at 2:15 p.m.  
18 Eastern Time, so it should be about five and a half minutes or so. So a short break, but we'll  
19 come back and resume with Session 3 at 2:15 p.m. Eastern.

20 (Off the record at 2:10 p.m.)

21 (On the record at 2:15 p.m.)

22 MR. SPEER: All right, that was a quick break, but thank you for your flexibility.  
23 We're cramming a lot of information into today's workshop, I hope you're getting a lot of  
24 value out of it. So we're about to start our third and final session for today and in this  
25 session we're going to cover topics related to inspections and postmarket approval

1 reporting.

2 So first let me introduce the first presentation and it is about Medical Device Single  
3 Audit Program. The presenter is Lieutenant Commander Jacob Dyer with FDA. Lieutenant  
4 Commander Dyer is a senior regulatory officer in the Regulatory Inspections and Audits  
5 Team at CDRH's Office of Regulatory Programs. He is currently serving as the program  
6 analyst and assessor for the Medical Device Single Audit Program where he leads efforts  
7 associated with MDSAP development and implementation with other regulatory authorities  
8 and industries. So please proceed.

9 LCDR DYER: Hello, my name is Lieutenant Commander Jake Dyer. I'll be providing an  
10 overview of the Medical Device Single Audit Program and talking about how the FDA utilizes  
11 this data in postmarket activities. Thank you for joining us.

12 During today's session, our learning objectives will be reviewing MDSAP updates,  
13 describing how FDA uses MDSAP, and we'll go over the MDSAP transmittal that describes  
14 COVID-19 measures and remote auditing.

15 So it can't be an FDA presentation without acronyms. So the first one is the Medical  
16 Device Single Audit Program, often referred to as MDSAP. The next is the regulatory  
17 authority or RAs, and these are the organizations like the FDA and Health Canada. And then  
18 we have auditing organizations or AOs. These are the third-party organizations that are  
19 performing MDSAP audits.

20 The Medical Device Single Audit Program, or MDSAP, acts as a third-party inspection  
21 program. The three major participants are the regulatory authorities, the auditing  
22 organizations, and the manufacturers.

23 The auditing organizations conduct annual audits of participating manufacturers and  
24 certify these manufacturers. In turn, the AOs are assessed and recognized by the RAs,  
25 enabling them to be able to conduct the audits on behalf of MDSAP. The AOs then share

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 the audit report documentation and outcomes with the RAs. The RAs receiving this  
2 information will then make requests to manufacturers to request additional information  
3 and they'll provide that information to the RAs. All of this gathered information is then  
4 used for the RAs to make regulatory decisions.

5 Participation starts with education. There are 10 CDRH Learn MDSAP modules on  
6 the web. You can look under postmarket activities, inspections, and global harmonization.

7 The program is recognized and maintained by the five regulatory authorities. They  
8 are Australia's TGA; Brazil's ANVISA; Canada's Health Canada, which is also important to  
9 note that MDSAP is a mandatory requirement within Canada; Japan's Ministry of Health,  
10 Labor and Welfare and the Japanese Pharmaceuticals and Medical Devices Agency; and the  
11 U.S. Food and Drug Administration.

12 There are three official observers: the European Union, the United Kingdom's MHRA,  
13 and the World Health Organization uses the program during prequalification of in vitro  
14 diagnostics.

15 Additionally, there are three affiliate members: Argentina's ANMAT, the Republic of  
16 Korea's Ministry of Food and Drug Safety, and the newest affiliate member is Singapore's  
17 Health Science Authority. And we're always adding new AOs.

18 The affiliate membership program began in June 2019. This program allows for  
19 regulatory authorities to become members of the MDSAP program. However, the  
20 requirements of the affiliates are not included in the audit approach. The affiliate members  
21 benefit through a capacity building relationship which includes training, information  
22 exchange, and assisting with the development of regulatory frameworks.

23 There are obligations that the affiliate members need to meet. As part of the  
24 commitment to the MDSAP, affiliate members need to provide annual reports to RAs which  
25 include a discussion of how the member uses the MDSAP program and the audit reports.

1 The affiliate members are Argentina's National Administration of Drugs, Foods and Medical  
2 Devices or ANMAT, the Republic of Korea's Ministry of Food and Drug Safety, and just  
3 recently added is Singapore's Health Sciences Authority.

4 It's important to note that affiliate members may receive audit reports and  
5 certificates only if your facility submits these documents to the affiliate members. Affiliate  
6 members do not have access to the regulatory platform maintained by the RAs.

7 The program has largely remained the same throughout the past year, however,  
8 there are some areas to highlight.

9 Here is a quick snapshot of the number of manufacturing locations that participate in  
10 MDSAP. We currently have approximately 5800 manufacturing locations that participate in  
11 the program worldwide.

12 From 2018 to 2020, you can see that there has been steady growth in the program.  
13 Due to travel restrictions caused by the pandemic, we have seen a slowdown in growth. We  
14 expect these numbers to continue to grow after restrictions throughout the world are lifted  
15 and areas begin to open back up.

16 There are currently 15 AOs that are either authorized or recognized to conduct  
17 MDSAP audits. The four AOs on the left have submitted their application to the consortium  
18 and RAs have conducted both the Stage 1 and Stage 2 audits. These AOs have met the  
19 preliminary requirements to be authorized. The first three MDSAP audits performed by  
20 these AOs are witnessed by the RAs.

21 In the middle are the 11 fully recognized AOs. To be fully recognized, the AO must  
22 have had three witness audits and have adequately addressed all nonconformances. Once  
23 recognized, they will be subject to head office assessments and annual witness audits.  
24 Additionally, there is currently one application pending, which is DNV GL Presafe out of  
25 Hovik, Norway.

1 The complete list of auditing organizations is located on the MDSAP website and the  
2 link will be provided at the end of the presentation.

3 So with all this data coming in, how does the FDA utilize MDSAP in its day-to-day  
4 operations? One thing that hasn't changed since day 1, the FDA will still accept MDSAP  
5 audit reports for FDA routine inspections. What this means is that MDSAP participating  
6 manufacturers are exempt from FDA routine inspections.

7 However, there are inspection levels and situations listed in the Compliance Program  
8 Guidance Manual (CPGM) 7382.845, titled "Inspection of Medical Device Manufacturers."  
9 Those still apply.

10 Here we take a look at the other FDA quality system inspections that are exempt and  
11 not exempt if you participate in MDSAP. So for example, in the green box, "routine" fall  
12 under inspection levels 1 and 2, these fall into the exempt category. The other levels, 3,  
13 Special, Pre- and Postmarket are not exempt. These are either compliance follow-up,  
14 for-cause, risk-based work plan, and PMA approval inspections. These levels, 3, Special, and  
15 PMA, you are not exempt, so an FDA investigator may call to preannounce an inspection for  
16 these types of inspections.

17 The types of FDA inspections and types of MDSAP audits are shown in this table. As  
18 noted previously, MDSAP participants are not exempted from risk-based inspections.  
19 Additionally, the MDSAP audit approach utilizes a 3-year cycle. There's an initial, a  
20 certification decision, two surveillance audits, and then a recertification followed by a  
21 certification decision. So the certification decision is made after the initial and  
22 recertification cycles.

23 MDSAP audits and FDA inspections share many similarities. For example, ISO 13485  
24 plus regulatory authority requirements are used for MDSAP audits. For FDA inspections,  
25 they're largely focused on 21 C.F.R. 820, 803, 806, and 807.

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 MDSAP is a voluntary program in the U.S. However, FDA inspections are mandatory.  
2 As noted previously, FDA will accept MDSAP audit reports for FDA routine inspections.

3 For MDSAP, a third-party auditor is used and these come from the auditing  
4 organizations. For FDA inspections, an FDA investigator is utilized.

5 MDSAP follows the MDSAP audit approach, while FDA inspections follow the quality  
6 system inspection technique or QSIT.

7 MDSAP audits have routine inspection equivalency, so for initial, surveillance, and  
8 recertification audits. For FDA inspections there's baseline, abbreviated, EPRC, for-cause,  
9 and risk-based work plan. And as we spoke about, just the routine ones the MDSAP audit  
10 will cover.

11 The MDSAP audit report and NGE form are deliverables that come out of the MDSAP  
12 audit. They're very similar to the EIR and FDA 483 from an FDA inspection. The audit report  
13 is akin to the EIR and the NGE form is very similar in many ways to the FDA 483.

14 A single MDSAP audit covers multiple regulatory authorities while an FDA inspection  
15 really covers the FDA's requirements.

16 The COVID-19 pandemic has limited travel and impacted the ability to conduct  
17 in-person audits. MDSAP Transmittal 2020-10 was released on 12/31/2020. This  
18 transmittal supersedes the previous transmittal, 2020-07, and focuses on the extension and  
19 expansion of temporary extraordinary measures related to the MDSAP audits during  
20 COVID-19 quarantine orders and travel restrictions. This transmittal describes the interim  
21 measures to address challenges. It also covers and focuses on remote audits as a substitute  
22 for on-site audits.

23 So alternative audits arrangements can only occur when travel restrictions and social  
24 and physical distancing are in place as a result of the pandemic. A risk-based process is  
25 used to determine the type of alternative audit allowed. Evaluation of audit history, device

1 type, and complexity of manufacturing drives the type of alternative audit type used under  
2 this MDSAP transmittal. For example, a U.S. Class III device manufacturer would typically  
3 undergo a hybrid audit under Transmittal 2020-10. The requirements to audit are still  
4 present and AOs are expected to suspend or withdraw certification if they are not able to  
5 certify the manufacturer utilizing Transmittal 2020-10. For such situations, regulatory  
6 authorities would have to exercise judgment on the device licensing and marketing  
7 authorization based on the impact to public health.

8         So the four types of alternative audit types that are discussed in part of that  
9 transmittal is a desktop audit, so it's an audit performed remotely by reviewing  
10 documentation; a remote audit, which is an audit performed off site using information and  
11 communication technology or ICT. Then there's the hybrid audit, audits partially performed  
12 off site with at least one MDSAP qualified auditor that's simultaneously on site during a  
13 portion of the audit. And then there's also surrogate audits, where the audit is partially  
14 performed off site using ICT while at least one non-MDSAP qualified auditor is  
15 simultaneously on site during a portion of the audit. These different situations have had to  
16 come up due to travel restrictions and having expertise in the same area as the facilities  
17 many times.

18         Throughout the year, AOs have been performing remote audits of manufacturers.  
19 Additionally, RAs have been performing remote witness audits and head office assessments  
20 of AOs, though it has not gone without any challenges. Technology, for example, has been  
21 a challenge, coordinating the different ICT systems between AOs and manufacturers as well  
22 as RAs. There's video and audio quality issues sometimes and the occasional connectivity  
23 issue. Being an international program, many times RAs and AOs are in different parts of the  
24 country and/or world. Travel restrictions have made it -- have posed problems making it  
25 hard to plan both hybrid and surrogate audits. With facilities closed, availability of

1 resources has caused problems. And the uncertainty of the pandemic has made it difficult  
2 to schedule and plan out audits by both the AOs and the RAs.

3 In summary, MDSAP allows a single regulatory audit that satisfies the requirements  
4 of multiple regulatory jurisdictions.

5 Audits are conducted by third-party auditing organizations. These auditing  
6 organizations are assessed and recognized by the RAs.

7 Results from MDSAP audits are factored into compliance activities.

8 In June of 2019, the affiliate member program launched and there's currently three  
9 affiliate RAs.

10 And extraordinary measures had to be implemented for remote and off-site auditing  
11 due to the COVID-19 pandemic.

12 This slide contains a list of resources that were cited throughout the presentation.

13 MR. SPEER: Okay. Thank you, Lieutenant Commander Dyer.

14 Again, remember, if you have questions and comments throughout the remaining  
15 presentations, you can e-mail those at oht6-feedback@fda.hhs.gov.

16 Let's move to our next presentation and this is about inspections and establishment  
17 inspection reporting, and the presenter will be John Gomes from FDA. You'll recall, John  
18 was one of our moderators in an earlier group discussion. So John, go ahead, please.

19 MR. GOMES: Good afternoon, everyone, my name is John Gomes. I'm a lead  
20 reviewer in the Office of Orthopedic Devices with a background in electrical engineering  
21 and over 9 years of experience with medical device compliance.

22 Today's presentation is designed to give you a high-level overview of FDA's  
23 inspection process, what information is reviewed during an inspection, type of records  
24 generated during and after inspections, how FDA reviews inspectional information, and  
25 what are the possible regulatory outcomes after an FDA inspection is completed. We will

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 also present some inspectional data related to device inspections.

2 FDA inspects manufacturers or processors of FDA-regulated products to verify that  
3 they comply with relevant regulations and to ensure that products reaching consumers are  
4 safe. FDA's inspection authority is granted under Section 704 of the Food, Drug, and  
5 Cosmetic Act. Specifically, Section 704(a)(1) states that FDA officers or employees are  
6 authorized to enter any factory, warehouse or establishment in which FDA-regulated  
7 products are manufactured, processed, packed or held in order to inspect that factory,  
8 warehouse or establishment.

9 Inspections may also include, and often include, all the relevant equipment, finished  
10 and unfinished materials, containers, and labeling. This may also include any vehicle being  
11 used to transport or hold such FDA-regulated products.

12 As you can see, Section 704(a)(1) states that FDA inspections be conducted at  
13 reasonable times, within reasonable limits and in a reasonable manner. Although the FD&C  
14 Act does not specifically define "reasonable," FDA has long maintained that the inspectional  
15 authority extends to what is reasonably necessary to achieve the objective of the  
16 inspection. FDA intends to work with facilities to conduct inspections and obtain necessary  
17 information to achieve the objectives of an inspection.

18 Please note that it is a prohibited act under Section 301(e) and 301(f) of the FD&C  
19 Act to refuse to permit entry or inspection or refuse to permit access to or copying of  
20 certain specific records.

21 FDA's inspections are not limited to only domestic inspections. Foreign  
22 establishments that manufacture, process, pack or hold FDA-regulated products for  
23 introduction into the U.S. interstate commerce are also inspected. Just to give you an idea,  
24 in fiscal year 2019, before the onset of the COVID-19 pandemic, FDA had conducted a total  
25 of about 13,000 domestic and over 3,000 foreign inspections. Of these, there were over

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 1500 domestic device inspections and almost 400 foreign device inspections.

2 Next, I will discuss the different types of FDA inspections conducted at medical  
3 device manufacturers.

4 Inspections can be conducted for a device for which a premarket approval  
5 application, also known as a PMA, or Humanitarian Device Exemption or also known as an  
6 HDE, has been submitted for approval.

7 When a manufacturing site of a PMA device changes, FDA may also inspect the new  
8 site before approval of the site change.

9 Routine surveillance inspections are conducted for establishments that have not  
10 been inspected or some time has elapsed since the last inspection. FDA also recognizes  
11 MDSAP audits for firms that are in the MDSAP program, as discussed in a previous  
12 presentation.

13 FDA may also conduct for-cause inspections if specific concerns are identified during  
14 internal investigations of allegations or safety signals or if there is a need for a follow-up  
15 after regulatory actions such as issuance of a warning letter.

16 Finally, FDA also conducts risk-based inspections. The risk analysis is conducted  
17 through use of data, such as premarket submissions, recalls, and MDR, to identify specific  
18 device product codes and to select and prioritize sites for inspections.

19 What will be covered during an FDA inspection will depend on several factors such as  
20 the reason and objective for the inspection, if there was a previous inspection that  
21 identified violative conditions, if there is a need for follow-up on past corrective actions,  
22 etc.

23 Typically, an FDA inspection will verify a firm's compliance with the quality system,  
24 medical device reporting, corrections and removal, registration and listing, and medical  
25 device tracking regulations as shown on this slide.

1           The scope of the inspection will also depend on the scope of responsibility of the  
2 establishment being inspected. For example, if an establishment is not responsible for any  
3 part of the MDR process, the MDR portion of the inspection will not be covered during that  
4 inspection. However, the establishment that is responsible for MDRs will be identified and  
5 noted in the inspection report.

6           FDA investigators typically also review MDRs, including a firm's MDR procedures, any  
7 corrections or removals that may have been conducted and not reported to FDA, and  
8 significant design or manufacturing changes that may have been implemented without  
9 proper notification to the Agency.

10           This slide shows a general flow of FDA inspections, which may start with a prior  
11 notice of inspection. Please note that depending on the circumstances and the objectives  
12 of the inspections, FDA may not provide prior notice of inspection and may conduct an  
13 unannounced inspection.

14           After arrival at the inspection site, investigators will present their credentials and  
15 will issue the notice of inspection, or Form FDA 482, to the management of that  
16 establishment. It should be noted that a notice of inspection is not required to be issued  
17 during foreign inspections.

18           The process continues with the actual inspection, which may include requests for,  
19 and collection of, relevant documents, also formally known as exhibits.

20           At the close of the inspection, the investigator may conduct a meeting with the  
21 firm's management to discuss potential violative situations and other situations that may be  
22 concerning. If violative conditions have been formally documented, the investigator will  
23 issue the inspectional observations form, also known as Form FDA 483. I will elaborate  
24 more on the FDA 483 on a different slide.

25           Once the inspection has been officially closed, the process continues with

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 preparation of the establishment inspection report, also known as EIR, followed by a  
2 detailed review of the EIR and associated exhibits by FDA staff. Finally, after review of the  
3 inspectional evidence and if warranted, appropriate regulatory and administrative follow-up  
4 can be taken by the Agency.

5 As was discussed earlier, several regulations may be covered during an FDA  
6 inspection and one of the main regulations is the quality system regulation covered under  
7 21 C.F.R. 820 which governs the methods, facilities, and controls used for the design,  
8 manufacture, packaging, labeling, storage, installation, and servicing of finished medical  
9 devices.

10 The approach used by FDA investigators to cover the quality system regulation and  
11 other related regulations discussed earlier is referred to as quality system inspection  
12 technique or QSIT. In the QSIT approach, the quality system regulation is divided into seven  
13 subsystems, as shown on the slide. Four of them are considered major subsystems since  
14 they are the basic foundations of a firm's quality system. They consist of management  
15 control, corrective and preventive actions or CAPA, design controls, and production and  
16 process controls.

17 The QSIT top-down approach begins each subsystem review with an evaluation of  
18 whether the firm has addressed the basic requirements in that subsystem by defining and  
19 documenting appropriate procedures. This is followed by an analysis of whether the firm  
20 has implemented the requirements of that subsystem.

21 This slide gives you a high-level overview of the two primary documents that are  
22 generated from an FDA inspection. The inspectional observations form, also known as the  
23 FDA 483, is issued to a firm only if potential violative conditions are observed during the  
24 inspection.

25 The 483 consists of what are called observations, in other words, the deficiencies

1 observed by the FDA investigator during an inspection. Each observation includes two  
2 components, a high-level description of the deficiency that references requirements of a  
3 specific regulation. This is followed by a detailed description of the specific deficiency.

4 Please note that firms can provide a written response to the observations cited in  
5 the FDA 483 within 15 days after the close of the inspection. We highly recommend that  
6 firms provide a written response to the FDA 483 since it is taken into consideration during  
7 review of the EIR and determination of any regulatory follow-up.

8 Establishment inspection reports, also known as EIRs, are always generated after an  
9 inspection. This is a detailed report of each regulation covered, documents collected,  
10 processes and equipment examined, and observations noted by the investigator during the  
11 inspection.

12 Each FDA 483 observation is also discussed in detail with the references to  
13 appropriate exhibits collected during the inspection. Finally, any topics discussed with the  
14 firm's management at the end of the inspection is also documented.

15 So what does review of an inspection involve and what does it mean? An  
16 inspectional review includes review of the FDA 483 if issued, the EIR, which may include  
17 various exhibits collected by the investigator, and any response received from the firm.  
18 Exhibits may include standard operating procedures, design, production, shipment,  
19 marketing records, and pictures taken during inspections. During the review, the  
20 supportability of each observation cited in the FDA 483 is also verified.

21 Please note that a detailed review of the EIR and exhibits may uncover additional  
22 violative conditions not documented in the FDA 483.

23 After review of all inspectional information, each inspection is classified into one of  
24 three categories:

- 25
- No action indicated, or NAI, meaning no objectionable conditions or practices

1 were found during the inspection.

- 2 • Voluntary action indicated, or VAI, meaning objectionable conditions were  
3 found and documented, but the Agency is not prepared to take or recommend  
4 any regulatory actions because the objectionable conditions do not meet the  
5 threshold for regulatory action.
- 6 • Official action indicated, or OAI, meaning objectionable conditions were found  
7 and regulatory action should be recommended.

8 So what type of regulatory follow-up actions are considered? And how is an action  
9 chosen? FDA may consider several regulatory follow-up actions such as an untitled letter, a  
10 warning letter, a regulatory meeting, recall, seizure, injunction, or civil money penalties.

11 FDA uses patient-focused benefit-risk assessments for determining the need and the  
12 type of regulatory follow-up actions.

13 Several factors are considered in FDA's benefit-risk assessments, such as type of  
14 device involved, significance of individual violative observations, and the potential for  
15 patient harm or the potential for distribution of nonconforming products; combined effect  
16 of related observations that can affect the benefit-risk profile of a device; number of  
17 serious adverse events involved; firm's response to the FDA 483 and firm's regulatory  
18 history. FDA may also consider factors such as magnitude and duration of beneficial effects  
19 of the device involved and medical necessity of the device.

20 This concludes the high-level overview of FDA's inspection process. In the current  
21 and the next few slides, I will present historical data related to FDA's device inspections as a  
22 reference.

23 This slide shows the number of domestic and foreign device inspections conducted  
24 from fiscal year 2009 until 2021. Please note that the 2021 data was pooled in April and  
25 does not represent the complete fiscal year.

1           As you can see, FDA conducts hundreds of inspections just under its device portfolio  
2 every year, both domestically and internationally. It is worth noting that in the year of  
3 2020, FDA did not conduct as many inspections as previous years due to the COVID-19  
4 pandemic. Recently, FDA has started conducting inspections based on the criticality of the  
5 inspectional objectives, and also based on various levels of health risks posed by the COVID-  
6 19 pandemic at different geographical areas.

7           This graph shows the number of device inspections classified into NAI, VAI, and OAI  
8 categories from fiscal year 2009 until 2021. Of the roughly 40,000 total device inspections  
9 conducted between fiscal year 2009 until 2021, 57% were classified as NAI, 37% as VAI, and  
10 6% as OAI. In general, we recommend companies to engage and collaborate with the FDA if  
11 objectionable conditions are found during an inspection.

12           This graph shows the top 10 observations from the device inspections conducted  
13 from fiscal year 2009 until 2021. As you can see, observations related to inadequate CAPA,  
14 complaint, and MDR procedure constitute over half of the total number of observations.

15           Before concluding the presentation, I would like to point you to some resources that  
16 may facilitate further understanding of the FDA inspectional process. They are all publicly  
17 available on line.

18           The Compliance Program Guidance Manual, or CPGM, the QSIT and the  
19 Investigations Operations Manual, or IOM, include additional information about the  
20 inspection process. The Regulatory Procedures Manual, or RPM, includes additional  
21 information on various regulatory actions FDA may consider after an inspection.

22           Thank you for joining in this presentation and I hope that it has provided you with a  
23 high-level understanding of FDA's inspection process, regulations, inspectional records, the  
24 inspection review process, and the method and the value of engaging with the FDA when  
25 objectionable conditions are identified during an inspection.

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 MR. SPEER: Okay. Thank you, John Gomes.

2 Let's move on to our next session and it will cover premarket approval  
3 manufacturing quality system review, and our presenter is Zhijiang He from FDA. Dr. He is a  
4 TPLC lead reviewer in Knee Arthroplasty Devices Team in OHT 6 with both premarket and  
5 postmarket review experiences.

6 DR. HE: Good afternoon, my name is Zhijiang He and I am a lead reviewer in the  
7 Knee Arthroplasty Devices Team within OHT 6, Office of Orthopedic Devices. Today I will be  
8 presenting on the quality system manufacturing module review for premarket approval  
9 submissions. Sometimes it also referred as PMA GMP or good manufacturing practices  
10 review.

11 During this presentation, I will start off by giving you a brief introduction about the  
12 PMA program and then discuss the actual PMA submission requirements, specifically those  
13 related to quality system manufacturing module, and we will focus the discussion on  
14 original PMAs and site change supplements.

15 FDA classifies devices into three classes. Class I is the lowest risk, Class II is  
16 moderate risk, and Class III is the highest risk. Class III devices are devices that support or  
17 sustain human life, are of substantial importance in preventing or diagnosing illness or  
18 disease, or present a potential unreasonable risk for illness or injury. For these types of  
19 devices, there is insufficient information to assure the reasonable assurance of safety and  
20 effectiveness solely with general and special controls.

21 The regulatory pathway utilized to market a Class III medical device is the PMA  
22 pathway. A PMA is the most stringent marketing application for a medical device in the  
23 United States.

24 The total review time for a PMA is 180 days. In this slide, the top figure represents  
25 the premarket review timeline and the bottom one represents the quality system

1 manufacturing module review timeline. These are parallel reviews that occur at the same  
2 time and comprise as part of the final determination of the product under review. I will  
3 focus on the second figure in this presentation.

4 The review team will review the manufacturing section within 30 days and decide if a  
5 preapproval inspection is needed. From Day 30 to Day 60, the reviewer will try to perform  
6 interactive review with the sponsor to resolve any outstanding quality system  
7 manufacturing module deficiencies. We recommend the sponsor provide requested  
8 information as soon as possible to avoid delays in the review process.

9 From Day 60 to Day 90, the preapproval inspection will be conducted by Office of  
10 Regulatory Affairs (ORA) if it is needed. After the inspection, the investigator will generate  
11 establishment inspection report (EIR) and it will be reviewed by appropriate teams in ORA  
12 before CDRH receives it by Day 120. The EIR report will be reviewed from Day 120 to Day  
13 150 by CDRH. Therefore, to ensure smooth PMA quality system manufacturing module  
14 review process, it is very important that the manufacturing facilities are ready for the  
15 inspection and the sponsor provides complete documents for review.

16 The quality system manufacturing module for an original PMA submission is  
17 reviewed by CDRH. The submission should include information outlined in the FDA  
18 guidance document "Quality System Information for Certain Premarket Application  
19 Reviews." The requirements in this guidance are consistent with 21 C.F.R. 820, including  
20 quality system regulation requirements. They are divided into two sections, the site  
21 controls and manufacturing controls.

22 The quality system manufacturing module should include a cover letter. I would like  
23 to point out that along with other information, it is recommended to list on the cover letter  
24 the date the facilities responsible for design or manufacturing of finished devices are ready  
25 for inspection. We recommend you provide an overview of what the quality system

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 manufacturing module contains and how it is organized. In addition, a device description  
2 and an overview of the manufacturing facilities along with the associated firm  
3 establishment identification (FEI) number should also be provided. Your quality manual and  
4 a list of the standards used in the manufacturing process are also required.

5 As previously mentioned, there were two principal component sections for the  
6 quality system guidance. The first section describes the site control requirements related to  
7 design input, design output, design review, design verification, design validation, design  
8 transfer, design changes, and design history file of the subject device.

9 The second principal is related to manufacturing information and other key required  
10 procedures, such as complaint handling and CAPA, to ensure the facility has a good quality  
11 system and is capable of manufacturing devices meeting specifications. In addition to help  
12 facilitate the review, it is recommended to provide a narrative summary of the procedures  
13 and referencing the locations of attached procedures in the submission. Providing separate  
14 volumes of quality system manufacturing module for different manufacturing sites or  
15 vendors is also highly recommended to ensure it is as clear as possible what each facility is  
16 responsible for and how they comply with the quality system requirements.

17 It is worth noting that in orthopedics, each component of the devices is considered a  
18 finished device. For example, each component of the hip or the knee system, as illustrated,  
19 is considered a finished device. Therefore, if there were multiple contract manufacturers  
20 responsible for manufacturing the subject device system, it is recommended all procedures  
21 be provided for each of the facilities.

22 During the PMA quality system manufacturing module review process, CDRH review  
23 team will review the provided quality system manufacturing module according to the  
24 guidance document concurrently with the premarket review. If there are deficiencies in the  
25 quality system manufacturing module, these deficiencies will be communicated to the

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 sponsor in a formal deficiency letter or via e-mail through an interactive review process. In  
2 addition, CDRH collaborates with ORA to schedule any preapproval inspections, if it is  
3 necessary.

4 Prior to the approval of a PMA application, CDRH will typically issue an inspection  
5 assignment for manufacturing sites that are deemed necessary to be inspected. That  
6 determination is made based on the history of the responsible sites. In some cases, a  
7 postmarket inspection may be recommended in lieu or in addition to preapproval  
8 inspections. The inspections will be coordinated with the sponsor and conducted on or up  
9 to the date the sponsor indicates in the cover letter that the sites will be ready for  
10 inspection. It is important to ensure the sites are ready for inspection prior to submitting a  
11 PMA submission to prevent any delays in the approval.

12 A PMA postmarket inspection is typically conducted 8 to 12 months after the PMA  
13 has been approved. This device-specific inspection is intended to assure that the  
14 manufacturer is making the device in accordance with the conditions specified in the PMA  
15 and that it complies with the requirements of the QS regulation, MDR regulation,  
16 corrections and removal regulation, registration and listing regulation, and medical device  
17 tracking regulation.

18 After approval of your PMA submission, you may want to introduce a new  
19 manufacturing site for your PMA device or introduce new manufacturing opportunities in a  
20 site that was approved for your PMA device already. In these situations, you will need to  
21 submit a site change supplement for your PMA. For site changes, all manufacturing  
22 requirements, with the exception of design controls previously discussed, are the same.  
23 Please follow the suggestions on FDA guidance document "Manufacturing Site Change  
24 Supplements: Content and Submissions." This guidance is intended to help industry decide  
25 when a change in the manufacturing site should be submitted in a PMA site change

1 supplement. The guidance is only intended to help industry anticipate when a preapproval  
2 inspection in connection with a PMA manufacturing site change supplement will likely be  
3 needed to evaluate the firm's implementation of the QS regulation requirements in 21  
4 C.F.R. Part 820. As a result, this guidance should help firms manage the time frames  
5 associated with implementing the changes in a manufacturing site and any processes,  
6 factors, procedures, qualifications, and validations.

7 To ensure a smooth PMA quality system manufacturing module review process,  
8 please follow the three key strategies: be organized, be prepared, and be responsive. Your  
9 quality system manufacturing module should be well organized and complete. The  
10 manufacturing sites should be ready for quality system inspections. You should provide  
11 responses to FDA's deficiency questions in a timely manner. And if you are not clear about  
12 a question from FDA, please contact the review team to ask for clarifications.

13 MR. SPEER: Okay. Thank you, Zhijiang.

14 DR. HE: Thank you.

15 MR. SPEER: Thank you. Let's move to our next presentation and the next  
16 presentation is 30-day notice versus annual report. Two presenters. The first is Dr. Ting  
17 Song from the FDA. Dr. Song presented earlier today.

18 And also joining from FDA is Dr. Sita Modali. Sita Modali has been working as a lead  
19 reviewer in the Division of Joint Arthroplasty Devices, Knee Arthroplasty Devices Team  
20 within the Office of Health Technology 6 at FDA since 2019.

21 DR. SONG: Good afternoon, my name is Ting Song. Today Dr. Sita Modali and I will  
22 present PMA 30-day notice and annual reports. Dr. Modali is a biologist and a lead  
23 reviewer in the Knee Arthroplasty Devices Team in the Office of Health Technology 6, Office  
24 of Orthopedic Devices. And I am the assistant director of the knee team.

25 First, we would like to introduce different types of PMA supplements and reports.

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 Then we will explain 30-day notice and annual report best practices and provide orthopedic  
2 device-related examples.

3 There are six types of PMA supplements including panel track supplement, 180-day  
4 supplement, real-time supplement, 30-day notice, special supplement or changes being  
5 effected, and site change supplement. If the changes do not affect the safety or  
6 effectiveness of the device, the changes can be included in annual reports. Please refer to  
7 FDA guidance "Modifications to Devices Subject to PMA - The PMA Supplement Decision-  
8 Making Process."

9 A primary indicator of what type of PMA submission is appropriate is the nature of  
10 the data that is needed to demonstrate the safety and effectiveness of the modified device.

11 Panel track supplement is for a change in indications for use, design and/or  
12 performance. Substantial clinical data is generally necessary to support the change.

13 One-hundred-and-eighty-day supplement is for a significant change including  
14 principal of operation, control mechanism, design, performance, labeling, and new testing  
15 or acceptance criteria. Preclinical and in some instances, confirmatory clinical data is  
16 needed to support the change.

17 Real-time supplement is for a minor change for which critical data or FDA inspections  
18 are not needed, such as changes in design, software, labeling other than contraindications,  
19 or sterilization of packaging.

20 Special PMA supplement is for a change that enhances the safety of the devices and  
21 effectiveness is not changed.

22 Thirty-day notice is for a change in manufacturing that affect the safety and  
23 effectiveness.

24 Manufacturing site change supplement is for a move to a different manufacturing  
25 facility or establishment.

1           There are four steps to decide the regulatory pathway for a modified PMA device.  
2           The first step is to identify the modification and a reason for it. Step 2 is to conduct a risk  
3           analysis. Step 3 is to define data to assess the impact of the modification on safety and  
4           effectiveness. Step 4 is to decide the appropriate regulatory pathway.

5           Today we will be focusing on explaining 30-day notice and annual reports because  
6           they are the most frequently submitted file types.

7           Thirty-day notice is appropriate for changes to the manufacturing procedure or  
8           changes in the method of manufacture which affect the safety and effectiveness of the  
9           device, for example, a change in sterility dose auditing; a manual cutting process to an  
10          automated one; adding an automated supplier for a device component and the  
11          specifications are the same; a change to the machining lubricant.

12          A 30-day notice is not appropriate when the changes alter the following:

- 13           • Manufacturing or sterilization site of a finished device
- 14           • Device design or performance specifications
- 15           • Designated physical or chemical specifications such as material specifications of  
16           the finished device
- 17           • Device operating software

18          For these changes, other supplement types are more appropriate. We will provide  
19          examples in this presentation. Please refer to FDA guidance "30-day Notice, 135-day PMA  
20          Supplements, and 75-Day HDE Supplements for Manufacturing Method or Process  
21          Changes."

22          The PMA applicant is primarily responsible for determining if changes do not impact  
23          the safety or effectiveness of the device, and that's appropriate for any reports. For each  
24          change that was identified as annual reportable change that did not require a PMA  
25          supplement or a 30-day notice, we recommend you identify the following as applicable:

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

- 1 • Description of the change made, including a comparison to the previously
- 2 approved version
- 3 • Rationale for making the change, including identification of events related to
- 4 the reason for the change
- 5 • Listing or grouping of associated changes that were made to address the same
- 6 issue
- 7 • Scientific or regulatory basis for concluding that the change had no impact on
- 8 safety or effectiveness in order to allow FDA to understand how the applicant
- 9 determined the change did not require a PMA supplement or 30-day notice

10 Please refer to FDA guidance "Annual Reports for Approved PMA."

11 Next, Dr. Modali will explain best practices and provide orthopedic device-related  
12 examples.

13 DR. MODALI: Thank you, Dr. Song.

14 So far, Dr. Song has explained what kind of changes are appropriate for a 30-day  
15 notice and what kind of changes are appropriate for an annual report. Now let's see what  
16 are the best practices for submitting a 30-day notice.

17 The following items shall be included in a 30-day notice:

- 18 • A description of the change
- 19 • A summary of the data or information supporting the change (example, a few
- 20 concise pages summarizing test method, acceptance criteria, samples tested)
- 21 • A statement that the change has been made under the requirement of 520(f) of
- 22 the Food, Drug, and Cosmetic Act and 21 C.F.R. Part 820

23 Additionally, 30-day notice should also contain the following items:

- 24 • A description of the device
- 25 • Identification of the manufacturing facilities where the change will be

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

- 1 implemented
- 2 • Reason for the change, including a description of any adverse events or field
  - 3 failures that have occurred
  - 4 • Recall
  - 5 • Corrective and preventive actions
  - 6 • Appendices of supporting data where appropriate

7 The summary of the data or information supporting the change should include:

- 8 • A summary of the procedures
- 9 • Statistical rationale for the sampling method
- 10 • A summary of the completed validation study
- 11 • A method to monitor and control any changed manufacturing process, etc.

12 Let's take a look at the best practices to report annual reports. According to FDA  
13 guidance "Annual Reports for Approved Premarket Approval Applications," FDA  
14 recommends that the applicant provide separate summary tables for manufacturing  
15 changes, design changes, and labeling changes, and that the applicant identify changes that  
16 are associated with each other so it is clear which changes are linked. For example, certain  
17 changes might be linked to each other because they're intended to improve or correct the  
18 same aspect of the device.

19 Please see below table for recommended format for the changes table description.  
20 Change order number, type of change, description of change, reason for the change, related  
21 changes, why changes do not impact safety and effectiveness should be included in the  
22 table.

23 We emphasize that the applicant should take a few sentences or paragraph  
24 documenting why the change does not affect safety and effectiveness.

25 In addition, FDA recommends the applicant provide clear information for changes,

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 for example, the applicant can include schematic diagrams; tables comparing pre- and post-  
2 change features in the change description as additional examples.

3 Let's take a look at some orthopedic examples appropriate for a 30-day notice. In  
4 the first example, adding a new polishing machine for polishing a ceramic component of the  
5 device, this requires evaluation to ensure all critical parameters such as roughness and  
6 dimensions remain within the specifications.

7 In the second example, adding a new corrosion protection oil during manufacturing  
8 of components, this requires assurance of cleaning/biocompatibility to ensure this oil does  
9 not adversely impact the biocompatibility of the final finished device.

10 In the third example, adding a new computer numerical control machine for the  
11 manufacture of a component of the device, this requires evaluation to ensure the  
12 specifications and surface conditions are unchanged for the component.

13 In the fourth example, changing process parameters of the nitric acid bioburden  
14 reduction process for ultra-high molecular-weight polyethylene component, this change is  
15 being made to increase process output. This change required evaluation of process  
16 validation or qualification and evaluation of any effects on the color change or surface  
17 roughness of the ultra-high molecular-weight polyethylene device due to change in the  
18 nitric acid properties.

19 If the change qualifies for a 30-day notice and you have not submitted adequate  
20 information within 30 days of receipt, FDA will inform you via e-mail or letter that a 135-day  
21 PMA supplement is needed and you should provide additional information to FDA.

22 Here are some orthopedic samples where a 30-day notice needs conversion to a  
23 135-day supplement. In the first example, change in the coolant formulation that is used in  
24 the manufacturing process is made. However, the provided cleaning validation  
25 demonstrated residues on the surface and biocompatibility concerns of the residues could

1 not be resolved interactively. Hence, additional information is needed to evaluate the  
2 biocompatibility of the residues.

3 In the second example, change in direct compression molding process of ultra-high  
4 molecular-weight polyethylene component was made. The change is being made to align  
5 with direct compression molding process at a different location of the same manufacturer.  
6 As the change in temperature and pressure may affect the microstructure specifications and  
7 can have a significant effect on the mechanical properties of the device, additional  
8 information is needed. In this case, the file may be converted to a 135-day supplement.

9 Another example, Example 3, change to packaging equipment. The change is being  
10 made to replace existing nonconforming sealing equipment. A justification for the sample  
11 size/acceptance criteria is required to review the validation of the changes. Additional  
12 information is needed as the concern could not be addressed interactively.

13 Here are some examples of different PMA supplements that are not 30-day notice  
14 changes. The first one is panel track supplement. In this example, the PMA device has been  
15 approved for one anatomic location, for example, long bones. The applicant has made a  
16 change to add a new anatomic location in the indications for use, for example, spine, and  
17 provided new clinical data to support this new indications for use.

18 Next is 180-day supplement. In this example, the applicant proposed to change the  
19 material from one specific type of polyethylene to another type of polyethylene, modified  
20 the packaging components and materials and requested approval of shelf-life protocol.

21 Next is real-time supplement example. The package material and configuration was  
22 changed. Hence, new package validation and shelf-life testing needed to be evaluated.

23 And special PMA supplement example. This change is to final specification. The  
24 applicant added an additional specification step in manufacturing process to add additional  
25 assurance of purity and reliability of the device.

1           The next is manufacturing site change supplement. In this example, the applicant  
2 proposed to move to a different manufacturing facility or establishment.

3           Here are some examples for annual reportable changes. The first example,  
4 movement of manufacturing equipment in the same facility with no other changes, keeping  
5 the process flow the same.

6           The second example, replacement of broken parts as part of routine maintenance  
7 with the same equipment.

8           And the third example, update labels by adding language, unique device identifier,  
9 information and data matrix codes to align with current practice.

10           Here are a couple of examples that are not annual reportable. The first example,  
11 change in the sterilization trays. The current sterilization trays used for sterilization of the  
12 PMA device-specific instrument is no longer available. Hence, new sterilization trays will be  
13 used. Sterilization validation should be conducted using the new sterilization trays. PMA  
14 supplement is needed to demonstrate with supporting data that the new trays can maintain  
15 sterility under the worst-case sterilization condition.

16           The second example, change in the formulation of the coolant used in the  
17 manufacturing process. The current coolant has a preservative that may have toxic  
18 potential or the current coolant is not available. Hence, the formulation of the coolant is  
19 changed. Cleaning validation should be conducted and biocompatibility risk assessment  
20 should be provided to evaluate the biocompatibility of the coolant residues on the surface.  
21 PMA supplement should be submitted to review the adequacy of the biocompatibility risk  
22 assessment.

23           This concludes our presentation and I hope the information provided is helpful.  
24 Thank you very much for your participation.

25           MR. SPEER: All right. Thank you so much, Dr. Ting and Dr. Modali.

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1           We're going to proceed on to our next presentation. The next presentation is  
2 industry perspective on inspections and good manufacturing practice review, and the  
3 presenters are Jennifer Harris with Acumed/OsteoMed and Erika Guthrie, also with  
4 Acumed/OsteoMed. Both ladies presented earlier today, so let's go ahead with the next  
5 presentation.

6           MS. HARRIS: Good afternoon, my name is Jenny Harris and I'm the director of  
7 quality for Acumed/OsteoMed based in Addison, Texas. My colleague, Erika Guthrie, who's  
8 also the director of quality for Acumed based in Hillsboro, Oregon, and I will be discussing  
9 with you today our experiences with inspections, both FDA and other external audits, that  
10 we've both experienced.

11           Here's a few of the topics that we'll be covering. Erika will be reviewing the  
12 procedure we have in place for conducting these types of inspections. She'll also share with  
13 you the inspection challenges that we've experienced during these visits. I'll be reviewing  
14 with you the changes in industry that we've observed over the last few years. I'll also be  
15 discussing with you the inspection findings that we've seen. And finally, we'll be both  
16 discussing with you many of the lessons we've learned from COVID.

17           MS. GUTHRIE: Hello. So I wanted to start out by talking a little bit about the  
18 inspection procedures that we have in place. You'll see a lot here on these next few slides  
19 due to the fact that throughout industry we all have long procedures saying how to do this  
20 and it's been incredibly established. However, at the same time, that could show that there  
21 could be some places for innovation here, given the amount of innovation done in the rest  
22 of industry.

23           So we all have an initial greeting that we do with auditors and inspectors. It's  
24 generally done, at the first, at the front desk where we come to visit them. We make sure  
25 they have badges and are set up and ready to go and somebody's with them at all times.

1 Then we have daily opening meetings where we hold -- you know, the first day will be  
2 members of the executive team, there may be smaller groups at each daily meeting after  
3 that. We have interview techniques that we go over with individual staff members that we  
4 go over quite a bit of the time. We make sure that there's QA personnel with them at all  
5 times, and we make sure that if there's any type of misunderstanding or misalignment, that  
6 it's closed each day prior to the end of any meetings so that we make sure that we don't  
7 leave anything unanswered.

8 We all have back room support, so we make sure that there's people in the back  
9 room who are understanding what's going on in the front room with the auditors and  
10 inspectors, and it's the job of this group to make sure that they're getting all of the SOPs,  
11 the work instructions, the forms, test reports, whatever is being requested to review is  
12 brought up to the front by that team.

13 We also have daily and final closing meetings much like the opening meetings. The  
14 daily close meetings will be a smaller group of people and then the final close meetings for  
15 us, at least, will be our executive team. From there, though, we do keep moving for some  
16 more information where we have responses to audit findings drafted and submitted within  
17 the specified timelines that we discuss during the final closing meetings.

18 When it comes to challenges that we've had with inspections, there's a few different  
19 ones, the first being that sometimes the inspectors that we get have different specialty  
20 fields, so we do spend a lot of time bringing products out, explaining how the products work  
21 and trying to get through some of the engineering speak that happens on a day-to-day basis  
22 within industry to make sure that that's understood by the inspectors as they're reading  
23 through documentation. There can be some inconsistency between inspectors where  
24 there's different, you know, places of interest that they have, so it may be one inspection  
25 we have is all about design control while another one is all about CAPA, for instance. The

1 methodology varies. Some inspectors truly do follow the QSIT method and some don't.  
2 And then there's also no specific cadence to those inspections. So you know, sometimes  
3 you'll go through a few years where it's every other year, then you can have up to 5 years in  
4 between, as well, where you're not sure when the inspectors are going to come in.

5 MS. HARRIS: Some of the things we wanted to discuss today are changes that we've  
6 seen in the industry over the last few years and I know many companies are experiencing  
7 this, as well. What we're noticing is having a notified body with our international presence  
8 in our companies, we get to gain that experience with these constant contacts. We have a  
9 scheme manager that we continually speak with and there's a consistency that we're seeing  
10 with the inspection cadences, as Erika mentioned in the previous slide.

11 There's an annual surveillance, there's an annual unannounced, there's constant  
12 tech file reviews, and then microbiology audits for those of us dealing with sterile product.  
13 This gets a constant cadence to that that we weren't seeing so much in the FDA inspections.  
14 There's also an availability for questions that we're much more comfortable with because  
15 we're interacting with these groups more frequently.

16 And then we wanted to discuss a little bit the fact that information provided to  
17 understand the "why" and so that back-and-forth gives us the insight into that a little more  
18 than what we're experiencing with the evidence sections. And then finally, the 13485  
19 transition approach, with it not being audits, it's the only way to evaluate a compliance.

20 When it comes to findings, what we were seeing and what we've experienced with  
21 some of our FDA inspections were that things seemed to be very focused on procedural  
22 misses but not so much risk based, and what we're experiencing now, more with our  
23 notified bodies in those types of inspections, is that's really the point that we're driving to  
24 try to keep, make and ensure that those risk-based issues have been addressed and that  
25 that's what we're focusing on. It's also been very -- it's very beneficial to understand the

1 current inspection trends related to our industry, as provided by FDA, we're not seeing a lot  
2 of that, so that's something I think we would really benefit from in understanding that  
3 interaction and that feedback that we could get from FDA.

4 And finally, with the MDSAP certification, those findings and trends, we're seeing a  
5 challenge with how that scoring takes place and that's been something that we've -- we're  
6 getting more used to, but I think that's been something that hasn't been consistent as we've  
7 moved forward, and I don't know if Erika wants to add anything to that.

8 MS. GUTHRIE: Yeah, there's been a little bit of inconsistencies in how the program  
9 has laid itself out. You know, we aren't really sure who it is that's reviewing these items. In  
10 addition to that, some of the areas that are using that program are still coming out and  
11 doing inspections with us. So there's been a little bit of a misunderstanding there of how  
12 that's going to play out in the future.

13 MS. HARRIS: And finally, I think the wild card for all of us in the last year, year and a  
14 half, has been how COVID has impacted our businesses, inspections, just life in general, and  
15 we have a few lessons learned from COVID.

16 We've certainly become more nimble. Well, we found out we are more nimble, but  
17 not as tech savvy as we thought and by that, I mean we were able to really manage doing  
18 remote audits, however, we weren't quite as ready for it as I think we thought we would be.  
19 We learned the hard way a few ways. Understanding platform capability, as far as before  
20 the audit is scheduled and during the audit, understanding how you're going to conduct  
21 those remote audits was super important in making sure that both sides could handle the  
22 platform that was selected.

23 I think one of the biggest challenges we experienced doing remote inspections --  
24 because our inspections with our notified bodies did not stop during this last year -- was  
25 conducting manufacturing tours. For our facilities specifically, there's a lot of loud

1 manufacturing equipment and it's incredibly difficult to make a tour work well. I don't think  
2 the audio was difficult for those listening in to the tour, but for those of us conducting the  
3 tour, it was very difficult to communicate. So those are some challenges we certainly had  
4 to try and overcome.

5 MS. GUTHRIE: And to add to that a little bit, in some of the preparation and how  
6 tech savvy we believed ourselves to be, we really did have to find a way to review and  
7 exchange procedures and different data as we were going through these types of audits.  
8 You know, we had to find file sharing, we had to find something that was accessible by both  
9 parties while we were going through all of these. There were some size limitation issues  
10 that we had to overcome and oftentimes it ended up that there were a few things where  
11 we used a file-sharing service, but another where we would e-mail things over, it had to all  
12 go through one person rather than a few people handing things out.

13 In addition to that, it completely got rid of the need for a back room. It made it so  
14 that we could talk to people and be reviewing these files as we were talking to them about  
15 it. It made it much less of a barrier to the rest of the organization. You know, without that  
16 physical location but still having that function, it meant that there was a smaller number of  
17 people doing that kind of back room setup. It meant that we didn't have to take a portion  
18 of the building and say that it was only for this function now.

19 So it was a lot easier on the organization and that's why we have this last bullet in it  
20 about that impact. It was far less impactful for the organization. For instance, when we did  
21 a tour, as Jenny was speaking, it may have been a little bit difficult for us to hear, but at the  
22 same time it was less people being involved in walking through and listening in and it made  
23 it so that more work was done during those times of audit and inspection than had been  
24 done in the past.

25 And then lastly, it really did impact how we did our own internal audits. We've

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 figured out how to do that file sharing with each other and really worked out the kinks  
2 during those processes and those procedures that we were following and we did have to  
3 learn some new things along the way but overall, for us, it ended up being the same amount  
4 of information as we had in audits before, if not a little bit more, because things moved a  
5 little quicker with less impact to the organization, which was really helpful. And I don't  
6 know if Jenny has anything she wants to add to that piece of it.

7 MS. HARRIS: Yeah, I think those are all great points. I think we were surprised by  
8 once we got our minds wrapped around it, it went really well.

9 And that ends our presentation for today. We look forward to any questions and  
10 discussion points we'll have during the discussion session. Thank you so much.

11 MR. SPEER: All right. Thank you, Jenny and Erika, great insights.

12 So in the interest of time, because we want to end on time today, we're going to go  
13 ahead and transition to the group discussion. So let me do a brief introduction of the  
14 moderators and panelists.

15 So joining me as a moderator for this session is Shumaya Ali. Shumaya is with FDA  
16 and she serves as the assistant director with the Division of Restorative, Repair and Trauma  
17 Devices within the Office of Orthopedic Devices. She oversees the Stereotaxic Bone Growth  
18 Stimulators and Fracture Fixation Devices Team, and manages review of both premarket  
19 and postmarket programs for these device areas. And Shumaya has been with FDA for 11  
20 years.

21 So on the panel will be Dhamesh Patel, also with FDA. He has been with the Office  
22 of Regulatory Programs since 2019 and he currently manages the PMA, HDE, Q-sub and  
23 Device Life Cycle Tracking Team with the Division of Submission Support.

24 Also from the FDA on the panel is Jhumur Banik. Jhumur is a policy analyst with  
25 PMA, HDE, Q-sub and Device Tracking Life Cycle Team in the Division of Submission

1 Support, Office of Regulatory Programs in FDA/CDRH. She focuses on providing her  
2 regulatory and technical expertise for issues pertaining to PMAs, PMA GMP, and  
3 Q-submissions for both internal and external stakeholders.

4 Also joining from FDA is Eric Horowitz. Eric has been with the FDA Center for Devices  
5 and Radiological Health since 2003. He is currently a quality system and compliance expert  
6 overseeing work with the Center that relates to medical device quality systems, as well as  
7 other areas of regulatory compliance and developing compliance policy and processes.

8 Also joining the panel are earlier presenters, Lieutenant Commander Jacob Dyer  
9 from FDA, Stephanie Matthews from Johnson & Johnson, Jenny Harris from  
10 Acumed/OsteoMed, and Erika Guthrie from Acumed/OsteoMed.

11 So Shumaya, do you want to kick the panel discussion off?

12 MS. ALI: Absolutely. Thank you, Jon, for the warm introduction, and thank you to  
13 our panel members in advance for your participation.

14 So in the next 20 minutes or so, Jon and I will be covering three main areas, FDA  
15 inspection, quality system reviews, and post-inspection correspondence, and some of those  
16 hot topics everyone may be interested in knowing is the harmonization of FDA quality  
17 system regulation and ISO 13485.

18 So starting with FDA inspection, we have seen FDA presented data on inspection  
19 observations and there appears to be ongoing trends with certain quality system  
20 deficiencies with CAPA, corrective and preventive actions, process validations and  
21 production and process control making it at the top of the list, top 10 inspectional  
22 observation list.

23 So my first question to the panel members is what are some of the best practices  
24 manufacturers have adopted or can adopt to help minimize recurrent quality issues?

25 MS. MATTHEWS: This is Stephanie Matthews, I'm happy to start. Good afternoon,  
Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 all. So from a manufacturer's standpoint, several elements. I think certainly having a  
2 robust corrective action and preventative action program that consists of also overall  
3 monitoring of overall trends in both site and over across franchises. So I think depending  
4 on the size of your business, it may look a little differently.

5 So certainly a best practice is also not just looking at it from a single event  
6 perspective, but looking across common equipment, even if a particular product may not  
7 have been involved, but certainly looking across your manufacturing sites for either  
8 common equipment, common trends. You heard it, that process failure seems to be kind of  
9 on top, or inadequacy in the CAPA program to identify other areas of recurrence. So  
10 certainly those conversations across even large and small businesses can be really helpful to  
11 understand whether the issue can occur in other areas to prevent a future issue.

12 MR. SPEER: I mean, it's curious to me, I've been studying the annual data year after  
13 year and CAPA is always the number one reason why companies get 483 observations and  
14 usually, you know, in the top three for warning letters. I'm curious, I guess from both FDA  
15 and industry side, why do you think that is?

16 MR. HOROWITZ: So I'll start that. One common reason that I would say for it is  
17 really that CAPA is the heart of the quality system. So when there are problems with CAPA,  
18 we at the FDA are going to really hone in on that and pay a lot of attention because it's  
19 harder to fix those problems that are in the other areas if your CAPA system isn't working  
20 properly. So, part of the reason why that comes up so often is because it is a big focus in  
21 our inspections.

22 But I think part of it also is that I've seen companies struggle particularly with the  
23 effectiveness part of CAPA and really being able to take meaningful action and then be sure  
24 that the action they're going to take is actually going to fix the problems and prevent  
25 recurrence of those issues which then, if you don't have a good system in place for making

1 sure that that's done well and for having the investigations up front to make sure that  
2 you're identifying meaningful actions, then you can end up in a place where you're taking  
3 CAPAs over and over again because the actions you took aren't actually fixing the problem  
4 or problems.

5 MR. SPEER: All right, thank you. Any other comments on that before we move to  
6 the next question?

7 MS. HARRIS: This is Jenny with Acumed. I was just going to say I think there's such a  
8 fine line and balance between identifying what needs to go into the CAPA system and what  
9 doesn't and it's very easy in different places I've been for your CAPA system to get  
10 overwhelmed with all the best intentions.

11 And then, as Stephanie mentioned, those systems identifying what needs to be  
12 considered for CAPA are extremely crucial in helping the organization make good decisions  
13 about these efforts because, as most of us have developed these systems, CAPA becomes a  
14 very big project, typically, and it takes a lot of resources in that continued effort and I think  
15 that I know in different places I've worked, that becomes sometimes a struggle to make  
16 sure we've got the focus in the right areas, that we can keep that focus continued and don't  
17 overwhelm our own systems with some of those efforts.

18 MS. MATTHEWS: Great points, Jenny. Scope. Scope is critical. And I think Eric also  
19 mentioned the recurrence piece and effectiveness monitoring and I think those are  
20 excellent points and I think don't hesitate, there can be more than one monitoring measure.  
21 I think we're seeing an emerging trend to look at a couple pieces of that, to look at not just  
22 the procedure or changes or complaint reduction, but there can be multiple monitoring  
23 effectiveness checks that looks at aspects of it. I think that does help ensure that you can  
24 progress and you're not getting aging CAPAs, which can be another issue from  
25 overwhelming the system.

1 MS. ALI: Yeah, just a quick follow-up. You know, I think everybody basically touched  
2 on the 360 feedback and monitoring for CAPA and we commonly hear that companies are  
3 integrating complaint data and MDRs and we all know that there are downsides to both  
4 data. So what other field data are considered as part of those monitoring and evaluations  
5 to ensure the quality of medical devices?

6 MS. MATTHEWS: Another few examples, nonconformance, certainly internal, right,  
7 near-miss type of activity can be an input to audit feedback. So again, what we can be  
8 seeing if we're seeing an overall trend in, let's say, minor nonconformances in similar areas,  
9 can certainly look at an opportunity to put in a reduction program.

10 And then let's not forget preventative. I think that I also want to stress, you know,  
11 those might not be always glamorous, but looking at things from a preventative perspective  
12 and having opportunities to put that in, in a very well-scoped project and just focused on  
13 prevention can be a very effective way, to show that improvement over -- if it's processed  
14 and it's product improvement, there's a few other areas also that can be considered.

15 MR. SPEER: Okay. So let's talk a little about the preapproval inspection side of  
16 things. So obviously preparing for an FDA inspection, it can be very resource intensive and  
17 I'm curious, from the FDA participants on the panel, what are some of the factors  
18 considered to determine a need for an inspection? I mean, what resource can you point to  
19 that provides the transparency into this decision and perhaps allows the manufacturer to  
20 prioritize resources for the sites that are likely to be inspected?

21 MS. BANIK: Hi. So there are various factors that FDA considers. When there's a  
22 GMP reviewer or a premarket reviewer looking at the information provided, they all may  
23 look at the GMP information, but it factors on whether it's a breakthrough device or not,  
24 whether there was a prior inspectional history and what's the outcome of that prior  
25 inspectional history, so definitely if it's an OAI, it definitely qualifies for requiring an

1 inspection. However, if it's an NIA or VAI prior inspectional outcome, then there's a benefit-  
2 risk approach that's considered. So considering the products that are covered during a  
3 previous inspection is -- was there any recall history within the past 3 years or any history of  
4 allegations, were there any 483 observations, any repeat observations noted, and also from  
5 -- if you may have heard, there's been the case for quality initiative, specifically the  
6 voluntary improvement program, and if it's on that list because it's been -- because the firm  
7 has been in good compliance, then they can be waived from an inspection. And also if  
8 there's favorable MDSAP or ISO 13485 audit outcomes, that can be used to supplement the  
9 decision of whether an inspection is needed but just to clarify, it may not be used in lieu of  
10 inspection at this time.

11 MR. SPEER: Obviously, COVID has had an impact on how FDA is able to conduct  
12 preapproval inspections. Can you maybe talk a little bit about how the Agency has had to  
13 adjust and prioritize those preapproval inspections over the past year and a half or so?

14 MS. BANIK: So we've been mainly looking at how much of it would -- the product or  
15 the device would be serving an unmet clinical need, for instance, and if there are any other  
16 devices like that that exist in the market, that definitely influences also as to whether an  
17 inspection is necessary and prioritizing it accordingly in terms of need and of course, based  
18 on the location, where it is, it impacts whether or not an inspector can actually go out there  
19 physically, but those things have been also considered, as well.

20 MS. ALI: Let's swing to get MDSAP inspection. In today's presentation we heard  
21 from both Jennifer and Erika that there has been challenges with MDSAP scoring. So since  
22 we have Lieutenant Commander Dyer is also part of our panel members, can you elaborate  
23 on what are those challenges and maybe have Dr. -- Lieutenant Commander Dyer respond  
24 to that? Erika, respond to that.

25 MS. GUTHRIE: Yeah, definitely. You know, I can definitely say that within the initial

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 training that we did for MDSAP, the section on how to figure out the scoring was about a  
2 day of a 4-day training with a lot of people really confused about it. Now it's having  
3 conversations with those auditors about whether or not what they're looking at is indeed  
4 direct product contact or indirect product contact. It's gotten easier over time.

5         You know, we got into the MDSAP program early enough that it was a learning curve  
6 on both sides. The people who were coming in and doing those audits, it was the first time  
7 they were doing them, as well, so there were a few phone calls they were making to make  
8 sure they were doing the scoring right, too. It's definitely gotten better, but there's those  
9 pieces of it where it just leads to different types of conversation where it's what are we  
10 actually referring to as the root cause, again, direct or indirect contact of product.

11         LCDR DYER: Yeah, that's a very important point and we start to see it, it's a continual  
12 improvement process, it has grown quite a bit from the inception and through continuing  
13 assessing of the system and assessing with the auditing organizations that each of the  
14 regulatory authorities play a part in, help to kind of guide that process as we go forward. So  
15 it is built, we've seen the same process through some of the similar discussions that you're  
16 experiencing, as well.

17         MR. SPEER: What do you see -- and I guess, first, from that FDA perspective and  
18 then second, from an industry perspective, but from an FDA perspective, what do you see  
19 are the major challenges of inspections?

20         LCDR DYER: So I can start, at least from the MDSAP perspective. So this last year has  
21 presented a lot more challenges than in past years, just with -- and it was largely -- I thought  
22 it was covered pretty good of what the challenges were with remote audits. Walk-throughs  
23 are very difficult to perform with laptops and cameras, so it's a little bit different than your  
24 traditional where -- my background, I'm an investigator as well for the FDA. So being able  
25 to walk the site, you glean a lot more information than what you can see through a camera.

1 The camera is constantly turned where it wants to be directed and it's oftentimes very  
2 beneficial to see the whole picture. So we've found both the technology aspects, each of  
3 the RAs and auditing organizations, and then manufacturing sites have different, I guess,  
4 software packages and so trying to get everybody on the same sheet of music is sometimes  
5 a little bit difficult, it's a little bit more than what you would think.

6 MR. HOROWITZ: So to speak to it a little bit on the routine inspection when we're  
7 not under a COVID kind of perspective, two things that I would want to really see improve  
8 in the future is that we sometimes see observations that are built around a company either  
9 not having all of the documentation readily available, so we're not able to really get the  
10 right people in the room to be able to say hey, this is what was going on because there isn't  
11 really a problem, but the company just can't tell us what the actual situation was, so we  
12 aren't able to actually be able to have confidence in the company's ability to perform those  
13 specific functions.

14 But also sometimes there's a little bit of a language issue and I don't mean people  
15 speaking different languages literally, but more where maybe the nomenclature that's used  
16 in ISO 13485 or in other -- or in the pharmaceutical industry, is being used by the company  
17 and because of that, it's hard to equate it with the quality system regulation when it comes  
18 to an FDA inspection. And I think the more that a company can do to really understand how  
19 the words that they use in their own documents equate to what the regulation requires, the  
20 easier it is for them to be able to -- when an investigator is there asking them questions  
21 about where to find certain things, it's easy for you to say oh, I know what design outputs  
22 that are essential for the proper functioning of the device are. We don't call it that, we call  
23 it say critical to quality, they're right over here and I can get those for you. And I'm only  
24 focusing on that, those aspects, because there are places where it would be very easy for us  
25 to have a better inspectional outcome if the right pieces were in place for the inspection to

1 go more smoothly.

2 MR. SPEER: Those are great points. I'm curious, from the industry perspective, the  
3 same question. What are your major challenges in dealing with inspections? Anything we  
4 haven't covered so far?

5 MS. MATTHEWS: They were very common challenges, I think, with the technology.  
6 You know, we definitely had a lot of review sessions after each day with external auditors,  
7 whether it be FDA, notified bodies, many examples. Certainly, at the end of each day, what  
8 is working, what is not. You know, we've worked through some best practices in terms of  
9 file location, your Mbox, and a few other things. I think the setting up of the back room is  
10 very different.

11 So I think understanding your work streams, though, I know continuous kind of  
12 feedback in terms of what's working. Google Glass has been used in terms of walking shop  
13 floors. We're now starting to finally see a few folks being able to come in a few sites.  
14 We've seen it from a direct inspection at times. So we are seeing that infrequently. But  
15 there are limitations, right, in terms of how many people are on site, distance and other  
16 protocols and such.

17 So I think that the clear communication as to what is anticipated and then I know,  
18 internally, we're sharing a lot of best practices and what's working in terms of remote and  
19 getting feedback from the audit team in terms of what's working so that we can effectively  
20 go through the right amount of documentation effectively because these agendas are very  
21 long and to be able to go through that audit prep is a key component. So I think it's very  
22 similar to what the Agency is already seeing.

23 MR. SPEER: Great insights from you all and thank you all so much for your  
24 contributions throughout the day.

25 Shumaya, do you want to summarize Session 3, some of the key points that you

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 picked up today?

2 MS. ALI: So in the interest of time, I just want to thank the panelists for your  
3 interactive discussion, as well. Like, you know, we have heard some of the areas FDA can  
4 take back and revisit in terms of improvement. So we did want to end with FDA advocates  
5 for taking quality beyond compliance.

6 And I want to highlight one paper that was published in 2011, so just to say that we  
7 understand there is challenges to quality, and FDA is very much looking forward to working  
8 with the medical device communities, including industry as well as our patient population,  
9 to understand what those challenges are and to work together to develop some of the  
10 strategies to address some of those perceived barriers to device quality.

11 With that, I'll turn it back over. Back to you, Jon.

12 MR. SPEER: All right, thank you so much.

13 Well, folks, we're right there at the end of today's workshop and I want to thank you  
14 for participating but don't go yet, we have some closing remarks from William Jung with  
15 FDA. Dr. Jung is the director of joint arthroplasty devices division in the Office of Health  
16 Technology 6, OHT 6: Orthopedic Devices at CDRH/FDA. Dr. Jung received his bachelor's in  
17 chemical engineering and master's and Ph.D. in biomedical engineering from the University  
18 of Virginia. Since joining FDA in 2009, Dr. Jung has developed expertise in various areas of  
19 device regulations as a reviewer and supervisor. He led the Division of Radiological Health  
20 in 2012 to implement total product life cycle (TPLC) transformation. So let me hand it over  
21 to Dr. Jung for some closing remarks.

22 DR. JUNG: Thank you for that introduction. And good afternoon, everyone. It's  
23 been a pleasure being with all of you virtually today, and let me begin by acknowledging the  
24 tireless and hard work from the staff and organizers who made this workshop possible and  
25 run smoothly. And I would like to also thank Mr. Jon Speer for emceeding today's event and

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 he kept us engaged and on schedule. And special thanks to all presenters for putting  
2 together a comprehensive overview of FDA's postmarket regulations, best practices  
3 analysis, and case studies.

4 In addition, the review staff and the leadership in the Office of Health Technology 6,  
5 Office of Clinical Evaluation and Analysis, and Office of Regulatory Programs have provided  
6 invaluable input to our presentations with respect to an understanding of FDA's postmarket  
7 activities related specifically to orthopedic devices.

8 And I'd finally like to thank all the participants, all stakeholders, from patients and  
9 manufacturers, for their perspectives as it is vital for FDA to understand your needs and  
10 concerns.

11 Today we shared with you topics related to recalls, medical device reporting,  
12 post-approval and postmarket surveillance studies, inspections, and postmarket approval  
13 reporting. An objective of this public workshop was to improve public understanding of  
14 medical device postmarket programs and regulatory requirements related to orthopedic  
15 devices.

16 During the morning session, along with the overview of postmarket program  
17 requirements and regulations, we have presented our best practices on postmarket  
18 reporting to FDA and trends analysis based on what FDA has learned from our experiences  
19 in recall and MDR reviews. FDA recognizes that there are challenges that the industry and  
20 care providers face in retaining relevant information such as device return or retrieval,  
21 off-label use, product end of life, accurate description of events, and health hazard  
22 evaluation, etc. We hope that you have found FDA's perspective on best practices and  
23 trends of commonly occurring recalls and MDRs to be helpful in improving your reporting  
24 requirements, and FDA is always open to support effective communications with patients,  
25 care providers, and industry.

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1           In the early afternoon session we have presented a refresher on post-approval  
2 studies and postmarket surveillance studies highlighting the regulations and requirements.  
3 Based on the FDA's experience in post-approval studies and postmarket surveillance  
4 studies, FDA encourages timely communication when facing challenges that would delay or  
5 cause inadequate progress of the study. In addition, FDA also encourages sponsors to  
6 communicate any potential changes in a study population or study design. This will help us  
7 guide through overcoming potential complications when utilizing real-world evidence.  
8 Whether the source data is from the U.S. or outside of the U.S., FDA strongly encourages  
9 sponsors to communicate on reliability and relevance of leveraging said real-world  
10 evidence. FDA does see real benefit and least burdensome pathway in leveraging  
11 real-world evidence, so we highly encourage the use of it.

12           In the late afternoon session, FDA presented overviews on Medical Device Single  
13 Audit Program (MDSAP), FDA's inspection and establishment inspection reports, and PMA  
14 reporting requirements. MDSAP will reduce burdens on regulators as well as the  
15 manufacturers by allowing single regulatory audits that satisfy the requirements of multiple  
16 regulatory jurisdictions. FDA, as well as other regulatory agencies as well as industry, has  
17 faced many extreme technological challenges in completing audits and inspection protocol  
18 due to restrictions, and all stakeholders have faced a similar challenge in having effective  
19 communications remotely and we have felt that, obviously, very strongly.

20           And in closing, we hope that the members of the public gain a best understanding of  
21 FDA's postmarket program as well as FDA's perspective from stakeholders. FDA believes  
22 that today's workshop will serve as a platform providing an efficient postmarket program  
23 that will benefit public health. And FDA thanks you for your participation today and this will  
24 conclude our workshop.

25           MR. SPEER: Folks, thank you so much. I know it's been a long day, there's been a lot

Free State Reporting, Inc.  
1378 Cape Saint Claire Road  
Annapolis, MD 21409  
(410) 974-0947

1 of information, but thank you so much for participating and observing and listening  
2 throughout this workshop. And I want to thank FDA personally, it's been a great honor to  
3 be the master of ceremonies for today's program, so thank you so much. This concludes  
4 the program.

5 (Whereupon, at 4:00 p.m., the meeting was adjourned.)

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

C E R T I F I C A T E

This is to certify that the attached proceedings in the matter of:

VIRTUAL PUBLIC WORKSHOP - ORTHOPEDIC DEVICE POSTMARKET REVIEW

June 10, 2021

Via Videoconference

were held as herein appears, and that this is the original transcription thereof for the files of the Food and Drug Administration, Center for Devices and Radiological Health, Medical Devices Advisory Committee.

A handwritten signature in black ink that reads "Tom Bowman". The signature is written in a cursive style with a horizontal line underneath it.

TOM BOWMAN

Official Reporter