

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

MEETING OF THE
PEDIATRIC ONCOLOGY SUBCOMMITTEE OF THE
ONCOLOGIC DRUGS ADVISORY COMMITTEE (pedsODAC)

Virtual Meeting

Wednesday, May 12, 2021

12:02 p.m. to 4:20 p.m.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Meeting Roster

DESIGNATED FEDERAL OFFICER (Non-Voting)

She-Chia Chen, PharmD

Division of Advisory Committee and
Consultant Management

Office of Executive Programs, CDER, FDA

ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)

Alberto S. Pappo, MD

(Chairperson, pedsODAC)

Member and Head, Division of Solid Malignancies

St Jude Children's Research Hospital

Professor of Pediatrics

University of Tennessee Health Science Center

Memphis, Tennessee

ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBER**(Non-Voting)****Jonathan D. Cheng, MD***(Industry Representative)*

Senior Vice President

Head of Oncology Development

Global Drug Development

Bristol-Myers Squibb

Lawrenceville, New Jersey

TEMPORARY MEMBERS (Voting)**Anne L. Angiolillo, MD**

Director, Leukemia & Lymphoma Program

Division of Oncology

Center for Cancer and Blood Disorders

Children's National Hospital

Professor of Pediatrics, The George Washington

University School of Medicine

Washington, DC

1 **Steven G. DuBois, MD**

2 Director, Experimental Therapeutics

3 Dana-Farber/Boston Children's Hospital

4 Associate Professor of Pediatrics

5 Harvard Medical School

6 Boston, Massachusetts

7

8 **Ira J. Dunkel, MD**

9 Professor of Pediatrics

10 Weill Cornell Medical College

11 Attending Pediatric Oncologist

12 Department of Pediatrics

13 Memorial Sloan Kettering Cancer Center

14 New York, New York

15

16 **Julia Glade Bender, MD**

17 Vice Chair for Clinical Research

18 Department of Pediatrics

19 Memorial Sloan Kettering Cancer Center

20 New York, New York

21

22

1 **Katherine A. Janeway, MD, MMSc**

2 Associate Professor of Pediatrics

3 Harvard Medical School

4 Senior Physician

5 Dana-Farber / Boston Children's Cancer and Blood

6 Disorders Center

7 Director

8 Clinical Genomics, Dana-Farber Cancer Institute

9 Boston, Massachusetts

10

11 **Theodore W. Laetsch, MD**

12 Associate Professor of Pediatrics

13 University of Pennsylvania/

14 Abramson Cancer Center

15 Director, Developmental Therapeutics and Very Rare

16 Malignant Tumor Programs

17 Children's Hospital of Philadelphia

18 Philadelphia, Pennsylvania

19

20 **Donna Ludwinski, BSChE**

21 *(Patient Representative)*

22 New York, New York

1 **Tobey J. MacDonald, MD**

2 Aflac Endowed Chair for Pediatric Neuro-Oncology

3 Professor of Pediatrics

4 Director, Pediatric Neuro-Oncology Program

5 Aflac Cancer & Blood Disorders Center

6 Children's Healthcare of Atlanta

7 Emory University School of Medicine

8 Atlanta, Georgia

9

10 **D. Williams (Will) Parsons, MD PhD**

11 Associate Professor of Pediatrics

12 Baylor College of Medicine

13 Deputy Director, Texas Children's Cancer and

14 Hematology Centers

15 Houston, Texas

16

17

18

19

20

21

22

1 **Courtney J. Preusse**

2 *(Acting Consumer Representative)*

3 *(Participation in Day 2 Only)*

4 Senior Research Administrator

5 Fred Hutchinson Cancer Center Research Center

6 Clinical Research Division

7 Seattle, Washington

8

9 **Nita Seibel, MD**

10 *(Participation in Day 2 Only)*

11 Head, Pediatric Solid Tumor Therapeutics

12 Clinical Investigations Branch

13 Cancer Therapy Evaluation Program

14 Division of Cancer Treatment and Diagnosis

15 National Cancer Institute (NCI)

16 National Institutes of Health (NIH)

17 Bethesda, Maryland

18

19

20

21

22

1 **FDA PARTICIPANTS (Non-Voting)**

2 **Gregory H. Reaman, MD**

3 Associate Director Pediatric Oncology
4 Oncology Center of Excellence (OCE)
5 Office of the Commissioner (OC)
6 Associate Director Pediatric Oncology
7 Office of Oncologic Diseases (OOD)
8 Office of New Drugs (OND), CDER, FDA

9

10 **Jacqueline Corrigan-Curay, JD, MD**

11 *(Participation in Day 2 Only)*

12 Director, Office of Medical Policy
13 Acting Deputy Center Director for Operations
14 CDER, FDA

15

16 **Martha Donoghue, MD**

17 Acting Deputy Director
18 Division of Oncology 2 (DO2)
19 OOD, OND, CDER, FDA

20

21

22

1 **Lori Ehrlich, MD, PhD**

2 *(Participation in Day 2 Only)*

3 Clinical Team Leader

4 Division of Hematologic Malignancies I

5 OOD, OND, CDER, FDA

6

7 **Ann W. McMahon, MD, MS, FISPE**

8 *(Participation in Day 2 Only)*

9 Deputy Director of Science

10 Office of Pediatric Therapeutics

11 Office of Clinical Policy and Programs, OC, FDA

12

13 **Pallavi Mishra-Kalyani, PhD**

14 *(Participation in Day 2 Only)*

15 Lead Mathematical Statistician

16 Division of Biometrics V

17 Office of Biostatistics

18 Office of Translational Sciences, CDER, FDA

19

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Donna Rivera, PharmD, MSc

(Participation in Day 2 Only)

Associate Director for Pharmacoepidemiology

OCE, OC, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order	
4	Alberto Pappo, MD	14
5	Introduction of Subcommittee	
6	She-Chia Chen, PharmD	14
7	Conflict of Interest Statement	
8	She-Chia Chen, PharmD	20
9	Introductory Remarks	
10	Gregory Reaman, MD	26
11	Real World Evidence (RWE) for	
12	Regulatory Use in Pediatrics	
13	FDA Presentations	
14	The FDA Real World Evidence (RWE)	
15	Framework and Considerations for Use in	
16	Regulatory Decision Making	
17	Jacqueline Corrigan-Curay, JD, MD	30
18	Designing External Controls Using	
19	Real World Data for Pediatric Cancer	
20	Drug Development	
21	Donna Rivera, PharmD, MSc	41
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	Statistical Considerations for External	
4	Controls in Pediatric Trials	
5	Pallavi Mishra-Kalyani, PhD	54
6	Clarifying Questions	64
7	Pediatric Real World Data (RWD) Resources	
8	Speaker Presentations	
9	Childhood Cancer Data Initiative	
10	James Doroshow, MD	82
11	The NCI Childhood Cancer Data	
12	Initiative (CCDI) and RWD/RWE Resources for	
13	Pediatric Oncology	
14	Malcolm Smith, MD, PhD	100
15	Clarifying Questions	117
16	RWE in Evaluating Pediatric Drug Safety and	
17	Informing Research Strategies	
18	FDA Presentation	
19	Ann McMahon, MD, MS, FISPE	127
20		
21		
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	Guest Speaker Presentations	
4	Using RWD and RWE to Evaluate Pediatric	
5	Cancer Drug Safety	
6	Bruce Carleton, BPharm, PharmD	
7	FCP, FISPE	134
8	Informing Pediatric Clinical Research	
9	Strategies and Drug Development Through	
10	RWE	
11	Douglas Hawkins, MD	148
12	Clarifying Questions	160
13	Open Public Hearing	171
14	Clarifying Questions (continued)	178
15	Questions to the Subcommittee and Discussion	183
16	Closing Remarks	
17	Gregory Reaman, MD	201
18	Adjournment	207
19		
20		
21		
22		

P R O C E E D I N G S

(10:00 a.m.)

Call to Order

DR. PAPPO: Good afternoon, and welcome to day number 2 of the Pediatric ODAC meeting. I would first like to remind everyone to please mute your line when you are not speaking. For media and press, the FDA press contact is Chanapa Tantibanchachai. Her email and phone number are currently displayed.

My name is Alberto Pappo, and I will be chairing today's meeting. I will now call the May 12, 2021 meeting of the Pediatric Oncology Subcommittee of the Oncologic Drugs Advisory Committee to order. Dr. She-Chia Chen is the designated federal Officer for this meeting and will begin with introductions.

Introduction of Subcommittee

DR. CHEN: Thank you, Dr. Pappo.

Good afternoon. My name is She-Chia Chen, and I am the designated federal officer for this meeting. When I call your name, please introduce

1 yourself by stating your name and affiliation.
2 We'll start with Oncologic Drugs Advisory Committee
3 members.

4 Dr. Pappo?

5 DR. PAPP0: Hi. Good morning. I'm Alberto
6 Pappo. I'm a pediatric oncologist at St. Jude, and
7 I'm the chairperson for this meeting.

8 DR. CHEN: Dr. Cheng?

9 DR. CHENG: Good afternoon. Jon Cheng. I'm
10 a medical oncologist by background. I work for
11 Bristol Myers Squibb, and I am the industry rep.

12 DR. CHEN: Next are temporary voting
13 members.

14 Dr. Angiolillo?

15 DR. ANGIOLILLO: Good afternoon. This is
16 Anne Angiolillo, director of the Leukemia and
17 Lymphoma Program at Children's National Medical
18 Center in Washington, D.C., and professor of
19 pediatrics at the George Washington University
20 School of Medicine.

21 DR. CHEN: Dr. DuBois?

22 (No response.)

1 DR. CHEN: Dr. DuBois?

2 DR. DUBOIS: Steven DuBois, pediatric
3 oncologist at Dana-Farber and Boston Children's.

4 DR. CHEN: Dr. Dunkel?

5 DR. DUNKEL: Good afternoon. Ira Dunkel.
6 I'm a pediatric neuro-oncologist at the Memorial
7 Sloan-Kettering Cancer Center in New York.

8 DR. CHEN: Dr. Glade Bender?

9 DR. GLADE BENDER: Hi. My name is Julia
10 Glade Bender. I am from Memorial Sloan Kettering
11 Cancer Center in New York as well, where I'm a
12 pediatric oncologist and vice chair for clinical
13 research.

14 DR. CHEN: Dr. Janeway?

15 DR. JANEWAY: Hi. Katie Janeway. I'm a
16 pediatric oncologist at Dana-Farber and Boston
17 Children's.

18 DR. CHEN: Dr. Laetsch?

19 DR. LAETSCH: Hi. Ted Laetsch. I'm a
20 pediatric oncologist at the Children's Hospital of
21 Philadelphia at University of Pennsylvania.

22 DR. CHEN: Ms. Ludwinski?

1 MS. LUDWINSKI: Hi. Donna Ludwinski. I'm a
2 patient representative, parent of a child with
3 cancer, and I work for Solving Kids' Cancer in New
4 York.

5 DR. CHEN: Dr. MacDonald?

6 DR. MACDONALD: Hi. I'm Tobey MacDonald.
7 I'm a pediatric neuro-oncologist at Emory
8 University and Children's Healthcare of Atlanta.

9 DR. CHEN: Dr. Parsons?

10 DR. PARSONS: Hi. I'm Will Parsons,
11 pediatric oncologist at Texas Children's Hospital
12 and Baylor College of Medicine in Houston, Texas.

13 DR. CHEN: Ms. Preusse?

14 MS. PREUSEE: Hi. Courtney Preusse, acting
15 consumer representative, and I also work for the
16 Fred Hutch in Seattle.

17 DR. CHEN: And Dr. Seibel?

18 DR. SEIBEL: Hi. Good afternoon. I'm Nita
19 Seibel, a pediatric oncologist in the clinical
20 investigations branch of the National Cancer
21 Institute.

22 DR. CHEN: Now we will go to FDA

1 participants.

2 Dr. Reaman?

3 DR. REAMAN: Good afternoon. I'm Gregory
4 Reaman, associate director for pediatric oncology
5 in the FDA's Oncology Center of Excellence.

6 DR. CHEN: Dr. Corrigan-Curay?

7 DR. CORRIGAN-CURAY: Yes. Hi. I'm
8 Jacqueline Corrigan-Curay. I'm the director of the
9 Office of Medical Policy. I'm also the acting
10 deputy center director for operations in the Center
11 for Drugs.

12 DR. CHEN: Dr. Donoghue?

13 DR. DONOGHUE: Hi. This is Martha Donoghue.
14 I'm the deputy division director of the Division of
15 Oncology 2 in the Office of Oncologic Diseases at
16 the FDA.

17 DR. CHEN: Dr. Ehrlich?

18 DR. EHRLICH: Hi. This is Lori Ehrlich.
19 I'm a pediatric hematologist/oncologist and
20 clinical team leader in the Division of Hem
21 Malignancies I at the FDA.

22 DR. CHEN: Dr. McMahon?

1 DR. McMAHON: My name is Ann McMahon. I'm
2 in the Office of Pediatric Therapeutics at the FDA.

3 DR. CHEN: Dr. Mishra-Kalyani?

4 DR. MISHRA-KALYANI: Hi. My name is Pallavi
5 Mishra-Kalyani. I'm a lead mathematical
6 statistician in the Office of Biostatistics,
7 Division of Biometrics V at the FDA.

8 DR. CHEN: And Dr. Rivera?

9 DR. RIVERA: Good afternoon. I'm Donna
10 Rivera. I'm the associate director for
11 pharmacoepidemiology in the Oncology Center of
12 Excellence.

13 DR. PAPPO: Thank you.

14 For topics such as those being discussed at
15 this meeting, there are often a variety of
16 opinions, some of which are quite strongly held.
17 Our goal is that this meeting will be a fair and
18 open forum for discussion of these issues and that
19 individuals can express their views without
20 interruption.

21 Thus, a gentle reminder, individuals will be
22 allowed to speak into the record only if recognized

1 by the chairperson. We look forward to a
2 productive meeting.

3 In the spirit of the Federal Advisory
4 Committee Act and the Government in the Sunshine
5 Act, we ask that the advisory committee members
6 take care that their conversations about the topic
7 at hand take place in the open forum of the
8 meeting.

9 We are aware that members of the media are
10 anxious to speak with the FDA about these
11 proceedings. However, FDA will refrain from
12 discussing the details of this meeting with the
13 media until its conclusion. Also, the committee is
14 reminded to please refrain from discussing the
15 meeting topic during the break. Thank you.

16 Dr. She-Chia Chen will read the Conflict of
17 Interest Statement for the meeting.

18 **Conflict of Interest Statement**

19 DR. CHEN: Thank you, Dr. Pappo.

20 The Food and Drug Administration, FDA, is
21 convening today's meeting of the Pediatric Oncology
22 Subcommittee of the Oncologic Drugs Advisory

1 Committee under the authority of the Federal
2 Advisory Committee Act, FACA, of 1972.

3 With the exception of the industry
4 representative, all temporary members of the
5 subcommittee are special government employees,
6 SGEs, or regular federal employees from other
7 agencies and are subject to federal conflict of
8 interest laws and regulations.

9 The following information on the status of
10 the subcommittee's compliance with federal ethics
11 and conflict of interest laws, covered by but not
12 limited to those found at 18 U.S.C. Section 208, is
13 being provided to participants in today's meeting
14 and to the public.

15 FDA has determined that temporary members of
16 the subcommittee are in compliance with the federal
17 ethics and conflict of interest laws. Under
18 18 U.S.C. Section 208, Congress has authorized FDA
19 to grant waivers to special government employees
20 and regular federal employees who have potential
21 financial conflicts when it is determined that the
22 agency's need for a special government employee's

1 services outweighs his or her potential financial
2 conflict of interest, or when the interest of a
3 regular federal employee is not so substantial as
4 to be deemed likely to affect the integrity of the
5 services which the government may expect from the
6 employee.

7 Related to the discussions of today's
8 meeting, temporary members of the subcommittee have
9 been screened for potential financial conflicts of
10 interest of their own, as well as those imputed to
11 them, including those of their spouses or minor
12 children and, for purposes of 18 U.S.C.
13 Section 208, their employers. These interests may
14 include investments; consulting; expert witness
15 testimony; contracts, grants, CRADAs; teaching,
16 speaking, writing; patents and royalties; and
17 primary employment.

18 For today's agenda, information will be
19 presented regarding real-world evidence, RWE, for
20 regulatory use in pediatrics; real-world data, RWD,
21 resources; and RWD and RWE to advance pediatric
22 safety assessments of oncology drug products in

1 children within the context of the FDA framework
2 for RWE.

3 Potential data sources and publicly
4 available platforms, including those made possible
5 through the development and implementation of the
6 National Cancer Institute's Childhood Cancer Data
7 Initiative, will be discussed.

8 The potential for use of data sources to
9 construct external controls to evaluate
10 effectiveness of investigational products will be
11 considered given the frequent dependence on
12 single-arm studies due to extremely small study
13 populations, now exaggerated by molecularly-defined
14 subtypes of the rare cancer types that occur in
15 children.

16 This is a particular matters meeting during
17 which general issues will be discussed. Based on
18 the agenda for today's meeting and all financial
19 interests reported by the temporary members of the
20 subcommittee, no conflict of interest waivers have
21 been issued in connection with this meeting. To
22 ensure transparency, we encourage all temporary

1 members of the subcommittee to disclose any public
2 statements that they have made concerning the topic
3 at issue.

4 With respect to FDA's invited industry
5 representative, we would like to disclose that
6 Dr. Jonathan Cheng is participating in this meeting
7 as a non-voting industry representative, acting on
8 behalf of regulated industry. Dr. Cheng's role at
9 this meeting is to represent industry in general
10 and not any particular company. Dr. Cheng is
11 employed by Bristol Myers Squibb Company.

12 With regard to FDA's guest speaker, the
13 agency has determined that the information to be
14 provided by this speaker is essential. The
15 following interests are being made public to allow
16 the audience to objectively evaluate any
17 presentation and/or comments made by the speakers.

18 Dr. Bruce Carleton has acknowledged being a
19 principal investigator or co-investigator on
20 several studies with the Canadian Institutes of
21 Health Research, Genome Canada, Genome British
22 Columbia, British Columbia Provincial Health

1 Services Authority, British Columbia Children's
2 Hospital Foundation, and Health Canada.

3 Some of the studies include SEARCH &
4 PREVENT: Active Surveillance and Evaluation of
5 Adverse Reactions in Canadian Healthcare and
6 Pharmacogenomics of Adverse Reactions Events
7 Nationwide Team; Metabolomics for Prediction of
8 Cisplatin Mediated Acute Kidney Injury: a Canadian
9 Multicenter Adult and Pediatric Study; Hormonal
10 Contraceptives and Risk of Pseudotumor Cerebri
11 Syndrome in Women of Childbearing Age; Genomic and
12 Outcomes Databank for Pharmacogenomic and
13 Implementation Studies, GO PGx. He has also
14 acknowledged being a scientific advisor for United
15 Health Group's Pharmacogenomic Advisory Committee.

16 As guest speakers, Drs. Carleton and Hawkins
17 will not participate in committee deliberations,
18 nor will they vote.

19 We would like to remind temporary members of
20 the subcommittee that if the discussions involve
21 any other topics not already on the agenda for
22 which an FDA participant has a personal or imputed

1 financial interest, the participants need to
2 exclude themselves from such involvement, and their
3 exclusion will be noted for the record.

4 FDA encourages all other participants to
5 advise the subcommittee of any financial
6 relationships that they may have regarding the
7 topic that could be affected by the committee's
8 discussions. Thank you.

9 DR. PAPP0: Thank you very much, Dr. Chen

10 We will proceed with FDA introductory
11 remarks from Dr. Gregory Reaman.

12 **Introductory Remarks - Gregory Reaman**

13 DR. REAMAN: Thank you, Dr. Pappo, and
14 thanks to all of you for participating in today's
15 virtual meeting of the Pediatric Subcommittee of
16 ODAC, where we'll discuss the expanding efforts of
17 the NCI's Childhood Cancer Data Initiative in
18 aggregating and integrating demographic biologic,
19 including genomic tumor characterization and
20 clinical outcome data, and how CCDI might possibly
21 serve as a resource for potential real-world data.

22 Real-world data from children and

1 adolescents with cancer can be obtained from
2 multiple sources, and the potential for use of
3 well-characterized and appropriately collected and
4 standardized data to create sufficient evidence is
5 of particular interest in pediatric cancer drug
6 development in light of the rarity of specific
7 cancers in children, which creates issues for
8 clinical trials designed to demonstrate
9 effectiveness, as well as sufficient numbers of
10 treated patients on studies for evaluation of both
11 short- and long-term safety.

12 Many clinical trials of new cancer drugs in
13 children are designed with single-arm studies with
14 plans to use historical or external controls; and
15 real-world evidence might also contribute to the
16 development of priors for randomized studies,
17 incorporating a depth of Bayesian designs.

18 The availability of reliable sources of
19 real-world data is pivotal to the potential of
20 creating adequate real-world evidence, and the
21 potential use of real-world evidence in regulatory
22 decision making must be considered within the

1 context of FDA's framework on real-world data and
2 real-world evidence.

3 The 21st Century Cures Act, passed in 2016,
4 prompted a focus by the FDA on both real-world data
5 and real-world evidence and their potential use in
6 regulatory decision making in the development of a
7 real-world evidence program, and we'll start today
8 with a review of that framework.

9 As a result of several pediatric legislative
10 initiatives over the past two decades, results of
11 multiple pediatric clinical trials of new drugs
12 have provided labeling information on effectiveness
13 and safety.

14 Both the Best Pharmaceuticals for Children
15 Act and the Pediatric Research Equity Act also
16 require FDA to review case reports of adverse
17 events associated with the use of medical products
18 studied in children under these two pieces of
19 legislation.

20 The review process conducted by FDA's
21 Pediatric Advisory Committee contributes to the
22 general knowledge of medical product safety in

1 children. Although real-world evidence has not
2 been fully applied to address questions of
3 medication safety in children, the emerging sources
4 of data from clinical trials and observational
5 studies of pediatric oncology, especially those
6 incorporating lengthy longitudinal follow-up, as
7 well as pharmacoepidemiologic investigations, might
8 also provide opportunities for more efficient and
9 accurate intermediate and long-term safety data of
10 cancer drugs approved for use in children.

11 So again, I'd like to thank you for your
12 participation. I look forward to presentations and
13 hopefully a robust discussion. Thank you.

14 DR. PAPPO: Thank you very much, Dr. Reaman.

15 We will now proceed with FDA presentations,
16 starting with Dr. Jacqueline Corrigan-Curay.

17 DR. CORRIGAN-CURAY: Thank you very much for
18 inviting me to speak a little bit about the
19 real-world evidence framework for FDA.

20 She-Chia, if you could please see if you
21 could advance to my next slide?

22 DR. CHEN: Just a moment. Thank you.

1 **FDA Presentation - Jacqueline Corrigan-Curay**

2 DR. CORRIGAN-CURAY: Thank you very much.

3 Let me jump right in and speak a little bit
4 about FDA's real-world evidence framework.

5 As just mentioned under 21st Century Cures,
6 Congress passed us with establishing a program to
7 evaluate the potential use of real-world evidence
8 to support new indications for drugs already
9 approved or to satisfy post-approval study
10 requirements.

11 The first task for FDA was to develop a
12 framework for that program, which was published in
13 2018 and described some of our priority areas, the
14 challenges, and potential pilots. This framework
15 guides our program right now. Congress also
16 appropriated funds for demonstration projects,
17 which I'll touch on, with the expectation that
18 these activities would lead to guidance to be
19 published by December 2021.

20 What you have in front of you are the
21 definitions for real-world data and real-world
22 evidence. These are FDA's definitions. I won't

1 read them to you. I'll just note under real-world
2 data, we added the words "routinely collected" to
3 distinguish from data that might be collected
4 primarily from research. I would also note that
5 we're not only talking about data that arises in
6 the healthcare system, but data that can be
7 generated outside of that system to inform on
8 patient health.

9 Our definition of real-world evidence is, of
10 course, regulatory-focused on potential benefits or
11 risks of the medical product. And again, I'd like
12 to just note that it is designed agnostic, and
13 real-world evidence can be generated through
14 randomized trials, through the use of external
15 controls, and through the observational studies.

16 Our framework laid out three considerations
17 which really guide the review of applications that
18 come in, as well as the program. First is whether
19 the real-world data is fit for use; can it answer
20 the question that you're trying to answer; whether
21 the trial or study design that's chosen to generate
22 real-world evidence can provide adequate scientific

1 evidence to answer, or help answer, the regulatory
2 question; and then whether the study conduct meets
3 FDA's regulatory requirements, really focusing on
4 any study monitoring and data collection issues.

5 Now, we have experience with real-world
6 evidence. We've been using it for safety for over
7 10 years and generating really actionable evidence,
8 including in the Pediatrics, as you can see, the
9 article on the intussusception of the rotavirus.

10 It has not been used extensively in
11 effectiveness, but certainly there are
12 examples -- and these are just a couple of
13 examples; there are more -- in which it has
14 supported a finding of effectiveness, and many in
15 the oncology area.

16 Our program is really built on four
17 activities: engaging stakeholders, reviewing
18 proposals, conducting demonstration projects, and
19 ultimately on issuing guidance. While we focus on
20 the postmarket evaluation in the phase 4 area, we
21 realize that real-world data and real-world
22 evidence are critical across the development

1 program.

2 So let's talk a little bit about data. You
3 really cannot build quality real-world evidence
4 unless you have quality real-world data, and that
5 is really the starting point when you're thinking
6 about a study for real-world evidence.

7 Now, this is just some unpublished data of
8 endpoints in registrational trials, and first and
9 foremost, you need to find out whether the measure
10 of interest is going to be captured in real-world
11 data and, importantly, is it captured consistently
12 in a way that it is accessible?

13 So when you look at these endpoints,
14 certainly hematology-pathology imaging are all
15 things that are used in clinical practice, but is
16 the frequency of the assessment adequate to discern
17 effectiveness, and is there consistency in
18 interpretation, for example, in imaging?

19 Certainly, certain clinical events like
20 hospitalization may be much more reliable in
21 real-world data, but you also notice that for FDA,
22 we have a number of registrational trials that rely

1 on clinician-reported outcomes or patient-reported
2 outcomes, not all of which will be used in clinical
3 practice.

4 One of the things that we've been doing is
5 really focusing some of our demonstration projects
6 on the data. The transforming of RWE with
7 unstructured and structured data to advance
8 tailored therapy, or TRUST, is really based on the
9 fact that there have been studies, which if you
10 rely just on structured data -- for example, in
11 HER -- you will get a very different cohort and
12 sometimes not the cohort you're looking for. You
13 really need to get into the unstructured data,
14 which of course is much more difficult. This study
15 will explore different ways for us to access that
16 unstructured data using AI and other tools.

17 Now, another approach to this issue is the
18 One Source approach, which is being done in the
19 setting of the I-SPY breast-cancer platform trial,
20 and also now in a COVID-19 trial. In this
21 approach, it's really to change the HER but also to
22 change the workflow, because changing the EHR

1 without the workflow can be frustrating for
2 clinicians. To capture the truth, the One Source
3 about the patient once has to be carried through
4 the record because that's not only good for
5 research, it's critical for quality care.

6 Other projects are, again, developing
7 reusable frameworks for transforming raw data into
8 fit for purpose and thinking about how this data
9 will be structured for FDA submissions.

10 Now as I mentioned, sometimes the data isn't
11 captured in the healthcare system, so we've also
12 been focusing on tools that will allow us to fill
13 in the gaps; in other words, can we develop mobile
14 technology wearables and accelerometers that will
15 really tell us how a patient is doing when they're
16 outside the healthcare system?

17 FDA also was given a grant from HHS to
18 develop the FDA MyStudies app. It is an FDA
19 compliant platform that can be used to directly
20 gather information from patients. It can be fed
21 into their medical records, as well as into an
22 eCRF. We're currently piloting in a randomized

1 clinical trial involving children with juvenile
2 idiopathic arthritis, as well as with the Crohn's
3 and Colitis Foundation to support the input from
4 their patients into that registry.

5 Now, the other thing we think about, of
6 course, is design, and this is just a schematic
7 showing some of the possible designs using
8 real-world data. If you look on the left side of
9 this, this is really using traditional randomized
10 trials but perhaps using real-world data to make
11 them more efficient, and certainly is something
12 that is incredibly important for us.

13 Then you look at trials in clinical practice
14 settings, and that would be something like an RCT
15 that might be using some endpoints or outcomes, or
16 else a single-arm trial, as we're going to talk
17 about, using external controls; then observational
18 studies, of course, where you would not have any
19 intervention, and you really rely on analysis of
20 the data. This increasing reliance on the
21 real-world data also creates increasing challenges
22 in terms of causal inference, as well as making

1 sure you have real quality data.

2 That gets me to the point that the
3 evidentiary standard for substantial evidence
4 remains unchanged. The goal is to distinguish the
5 effect of the drug from other influences, such as
6 spontaneous change in disease course, placebo
7 effect, or bias. So all the common practices that
8 we use to do this must be attended to, and if
9 they're not used, then we need to understand how
10 we're controlling for some of the biases that those
11 designs are meant to address.

12 We are doing some demonstration projects on
13 design. We've just completed one using a
14 randomized trial within the setting of the Sentinel
15 Initiative. This was an educational intervention
16 to increase the use of oral anticoagulants for
17 patients at high risk of stroke, who had Afib.
18 Unfortunately, it was not successful as a public
19 health intervention, but it did show our ability to
20 enroll 47,000 participants into a trial, to
21 randomize them, and to capture their outcomes
22 through this trial.

1 Now, the other area where there is great
2 interest is can we use these data to really draw
3 inferences about the effectiveness of medications?
4 One of the ways we're trying to explore that is to
5 try and use these methods to duplicate results from
6 randomized clinical trials.

7 We're funding researchers up at Harvard to
8 duplicate 30 clinical trials. The first cohort of
9 those was published in December 2020, and it was a
10 mix, as we would expect; because the point of this
11 project is really not to say yes or no, but really
12 to understand what questions are better answered or
13 could be answered in this way.

14 If you're interested, we had a meeting in
15 February, and the recording of that meeting is
16 available, in which we brought this research and
17 other research together to start to talk about what
18 are the methods that we need to use and the
19 questions that we can answer.

20 Of course, COVID-19 also really generated a
21 lot of interest in real-world data. We really
22 needed real-world data to understand this disease;

1 to prioritize research questions to be answered
2 with clinical trials; and to help improve study
3 design and support participant enrollment. FDA
4 partnered with Reagan-Udall Foundation and Friends
5 of Cancer in using real-world data in these many
6 different ways.

7 Of course, what we've also seen is that data
8 is accessible. There are data sets, there are
9 statistical models, and it can become almost too
10 easy at times to draw conclusions from data, and we
11 have to be careful not to sacrifice methodologic
12 rigor, or we can be led astray.

13 Looking forward, what we plan to do, and as
14 outlined in the framework, is generate guidance in
15 the topic areas that will inform our sponsors on
16 how to use real-world evidence; in other words,
17 what is a fit for use of real-world data for
18 regulatory decisions? What kind of study designs
19 using real-world data are appropriate to support
20 effectiveness? What are the regulatory
21 considerations, and what are appropriate data
22 standards?

1 We have already provided a guidance on
2 submitting documents using RWD and RWE to FDA.
3 That's really more of a guidance meant to allow us
4 to flag these submissions, so we can make sure the
5 right people with the right expertise are weighing
6 in.

7 I'd like to summarize by just saying that
8 certainly FDA was accepting real-world and
9 real-world evidence before the 21st Century Cures
10 Act, but we continue to accelerate our review and
11 evaluation. We continue to accumulate experience,
12 and demonstration projects will advance our
13 understanding.

14 The FDA guidance development will reflect
15 learnings from applications and demonstration
16 projects, and we will maintain evidentiary
17 standards with real-world evidence and real-world
18 data. Thank you for your attention.

19 DR. PAPPO: Thank you very much,
20 Dr. Corrigan-Curay.

21 We will now proceed with FDA presentations,
22 starting with Dr. Donna Rivera.

1 **FDA Presentation - Donna Rivera**

2 DR. RIVERA: Thank you, Chairperson and
3 members of the committee.

4 Good afternoon. I am Donna Rivera, a
5 pharmacist and pharmacoepidemiologist in the
6 Oncology Center of Excellence. Today I'm going to
7 speak about designing external controls using
8 real-world data for pediatric cancer drug
9 development.

10 Before we begin, I would like to start with
11 the harmonized definition of externally-controlled
12 trial. An externally-controlled trial compares a
13 group of subjects receiving the test treatment with
14 a group of patients external to the study, rather
15 than to an internal group consisting of patients
16 from the same population assigned to a different
17 treatment.

18 (Pause.)

19 DR. CHEN: We are pulling up the slides
20 right now; just one moment. Thank you, everyone.

21 DR. PAPPO: Thank you.

22 DR. CHEN: Dr. Rivera, the slides have

1 pulled up. You may advance it. Thank you.

2 DR. RIVERA: Thank you.

3 To set the stage for this discussion on
4 external controls, a bit about the rationale for
5 their use may be helpful. The clinical challenge
6 that currently exists is interpreting time-to-event
7 endpoints like progression-free survival and
8 overall survival in single-arm cancer trials.

9 One potential solution that has emerged is
10 the use of well-constructed, externally-controlled
11 design. However, the primary methodological
12 concern is ensuring the balance of prognostic
13 factors and confounding influences for evaluation
14 of treatment benefit in the absence of
15 randomization.

16 For this presentation, I would like to focus
17 on two aspects of external controls: study design
18 and methodological approaches. Before we delve
19 into these aspects, I would like to emphasize the
20 challenges and intricacies of using an
21 externally-controlled design.

22 The suitability of an external control arm

1 is reliant on many factors which require careful
2 evaluation prior to study initiation. Because of
3 the complicated nature, it is recommended to
4 interact early and often with the relevant review
5 division to seek guidance and assess upfront
6 feasibility.

7 There are various ways to construct an
8 external control, including what is referenced as
9 the more traditional external control, which
10 includes data from a non-randomized population
11 outside traditional clinical trial enrollment.
12 Additionally, there are also synthetic control arms
13 which include observations that were not obtained
14 by direct measurement, often simulated using
15 advanced methods and modeling.

16 Time is an important factor in external
17 controls. Temporal variations in external controls
18 can include a concurrent control or a patient
19 population treated during the same or similar time
20 period, reflecting a similar standard of care and a
21 historical control, or a non-contemporaneous
22 patient population, whereas retrospective data is

1 used as a comparator. It is also possible to have
2 combinations of these types of arms.

3 External control arms may be designed in
4 various forms, and they can include data from
5 previously conducted clinical trials, including
6 pooled trial data. They can include real-world
7 data from a single source or pooled data in a
8 historical context, as well as prospective
9 real-world data.

10 Additionally, hybrid perspective designs,
11 such as those using concurrently randomized control
12 data, as well as external control data, and other
13 designs as mentioned by Dr. Corrigan-Curay, may be
14 appropriate in this context.

15 Real-world data for external controls can
16 come from a variety of sources, such as electronic
17 health records, administrative claims, registries,
18 and patient-generated health data. Registries and
19 prospective designs may have proximal utility as
20 sources for use in external controls.

21 In terms of uses for external controls,
22 there are really two ways in which we think about

1 their application; either A, as a benchmark or
2 natural history study as a type of epidemiological
3 baseline; or as a comparator using individual
4 patient-level matched data with formal hypothesis
5 testing for statistical inference.

6 The optimal approach to obtaining
7 interpretable time-to-event outcomes is to use a
8 prospective randomized clinical trial. However,
9 this is not always feasible, and when there is a
10 clear rationale for the inability to randomize, the
11 use of external controls may be a reasonable
12 approach, meaning when randomized-controlled trials
13 are infeasible or impractical, unethical, or
14 there's a lack of equipoise.

15 External controls may be considered to
16 generate supportive evidence. These may be
17 particularly important in instances where objective
18 response rate is challenging; for instance, in
19 difficult-to-measure locations or for treatments
20 where the cytostatic mechanism is unlikely to
21 produce tangible response rate.

22 These situations can occur in pediatric

1 oncology, which is germane to our discussion today,
2 as well as in other rare diseases or areas where
3 there is a significant unmet medical need with
4 limited treatment options or standard of care.

5 In terms of study conceptualization, studies
6 may be designed in various ways. The more
7 traditional and most widely used to date is likely
8 the natural history or descriptive epidemiology
9 type study that tends to serve as a benchmark. The
10 external comparator type, which I just described,
11 can be historical, synthetic, or a combination.
12 They can also be applied in hybrid or pragmatic
13 designs.

14 In evaluating fitness for purpose, as
15 mentioned by my colleague, the following elements
16 are important to consider: the data source, is the
17 data appropriate for use as an external control;
18 the patient population, is the control consistent
19 in composition with the patient population in the
20 investigational arm and can it serve as an
21 appropriate comparator for the indication?

22 All of these questions are contingent upon

1 the source having sufficiently available data that
2 can support adequate measurement, including that of
3 endpoint. Furthermore, we will discuss these
4 effects more specifically in the next portion of
5 the presentation on methodological approaches.

6 Careful planning in the design phase prior
7 to study initiation can ensure comparability of
8 patient populations and data, such that the planned
9 statistical analysis may adjust for potential
10 biases for better estimation of the treatment
11 effect and avoid artificially demonstrating a
12 treatment effect that is not related to therapeutic
13 intervention.

14 The protocol and statistical analysis plan
15 should be finalized before the analyses begin and
16 consider the following elements: assessing data
17 quality and metrics of that data; cohort
18 definitions; heterogeneity of real-world data;
19 endpoint ascertainment and validation for both
20 response and time-to-event endpoints; bias,
21 including selection, confounding, and
22 misclassification. All of these aspects are part

1 of evaluating fit for purpose: is the data
2 complete, consistent, accurate, and longitudinal
3 among other criteria?

4 These additional specific considerations may
5 be useful when designing external control arms.
6 The availability of key covariates, specifically
7 patient-level data, on clinical and demographic
8 characteristics, biomarkers, or other variables
9 directly relevant to the clinical question of
10 interest are important.

11 If a data source does not have relevant
12 prognostic variables available, the source may not
13 be fit for the purpose it is being intended to be
14 utilized for in assembling an external control.

15 For example, in an evaluation of a
16 biomarker-directed therapy, the accurate and
17 reliable capture of biomarker testing data would be
18 essential to select a patient population.

19 Similarly, in a data set such as YourMedicare, the
20 Medicare claims linkage is necessary to provide
21 valuable data on treatment utilization that would
22 not be evaluable without the linked data set.

1 Without careful attention to these factors,
2 selection bias and confounding can render the
3 results of the analysis comparing prospective trial
4 data to an external control uninterpretable.

5 Evaluating the population of interest,
6 external control arm patients should meet the same
7 or similar eligibility criteria as patients in the
8 trial or prospective study. The data source should
9 be carefully selected. Prior to analysis of any
10 pooled type of external control data,
11 appropriateness and feasibility of such pooling
12 should be evaluated.

13 Data missingness is a frequent and
14 significant concern in the use of real-world data.
15 Complete data, characterization, and evaluation of
16 quality, as well as data provenance, should be
17 reported. We encourage transparency in reporting.
18 Specific methods for handling missing data in the
19 external control group and any sensitivity analyses
20 should be included.

21 Temporality is an aspect, mentioned earlier,
22 that is a design decision. The selection of

1 retrospective or concurrent arms can have
2 implications for data interpretability. For
3 example, changes in standard of care can impact the
4 usefulness of historical control arm.

5 In terms specifically of measurement, items
6 to consider our comparability of data sources and
7 elements; measurement of the drug and other
8 concomitant treatments or treatment-related
9 factors; measurement of outcomes, including
10 differential capture of endpoints or follow-up data
11 for outcomes such as survival, which should be as
12 complete as possible with limited censoring or
13 missing data.

14 There are many nuances between the
15 systematic caption, evaluation of response, in a
16 trial and that which occurs in routine clinical
17 practice. Temporal issues, including those already
18 mentioned and also including the selection of
19 time zero, which is going to be covered more
20 extensively in the following presentation, along
21 with intercurrent events, may impact analyses.

22 A well-designed protocol and statistical

1 analysis plan, developed a priori, are essential to
2 the use of external control designs for regulatory
3 purposes.

4 To summarize and apply this discussion, I
5 would like to discuss examples of where we have
6 seen external control arms submitted to FDA for
7 regulatory use. External control data has been
8 submitted typically in the clinical scenario where
9 there are limited or no randomized studies
10 available to evaluate a time-to-event endpoint.

11 Use of single-arm studies is common in
12 oncology because objective response rate has a high
13 certainty that the effect is due to the therapeutic
14 intervention, and thus a control group is not
15 necessarily required.

16 Given this response endpoint a single-arm
17 trial is interpretable and the endpoint can be used
18 for either accelerated approval or regular
19 approval, depending on the application, the use of
20 external controls has been limited to providing
21 exploratory supportive data to inform clinical
22 context.

1 Regulatory decisions to this point have been
2 primarily based on trial response data. Real-world
3 data submissions, including external controls, have
4 been limited by several factors, including
5 inadequate information on patient demographic and
6 clinical characteristics such as differences in
7 treatment rates or differences in geography; lack
8 of prespecified protocols to ensure selection of
9 comparable patient populations, raising concerns
10 about selection bias; and a lack of a priori formal
11 statistical comparisons or a formal analysis plan
12 to outline the statistical methodology. Variance
13 in follow-up was another factor that contributed to
14 overall concerns about measured and unmeasured
15 confounding.

16 To reiterate, even when external control
17 designs were submitted in oncology, regulatory
18 approvals were based on the single-arm clinical
19 trial data, where durable overall response rate in
20 the context of acceptable safety was considered
21 evidence of clinical benefit. However, we continue
22 to work with the community to improve our ability

1 to rely on external control arms for situations
2 where response rate is not available and
3 randomization is impractical or infeasible.

4 As mentioned in the previous presentation,
5 under the 21st Century Cures Act, the agency is
6 opened to evidence development and trial design
7 modernization evaluation.

8 To conclude, real-world data is being
9 evaluated as a potential solution to the challenge
10 of inability to interpret single-arm, time-to-event
11 data. The greatest challenge remains in the
12 uncertainty of whether the two populations are well
13 matched for key prognostic factors because there is
14 not randomization.

15 There are two design questions I hope you
16 can take away from this presentation to ask when
17 thinking about designing an external control using
18 real-world data. The first, what is the real-world
19 data study questions; and the second, is the data
20 fit for purpose?

21 It is the second question about fit-purpose
22 data. This is the most salient aspect for

1 discussion in the oncology drug development
2 community, where efforts must focus in order to
3 assure the use of external control arms can
4 reliably provide substantial evidence of efficacy
5 or effectiveness for regulatory decision making.
6 Thank you to my colleagues, and thank you for the
7 opportunity to speak on this emerging topic.

8 DR. PAPPO: Thank you very much, Dr. Rivera.
9 We will now proceed with the FDA
10 presentation from Dr. Pallavi Mishra-Kalyani.

11 **FDA Presentation - Pallavi Mishra-Kalyani**

12 DR. MISHRA-KALYANI: Yes. Thank you very
13 much, Chairperson and members of the committee. My
14 name is Pallavi Mishra-Kalyani. I'm a lead
15 mathematical statistician in the Division of
16 Biometrics V in the Office of Biostatistics in
17 CDER. Today my presentation will cover some of the
18 statistical considerations for external control
19 trials, particularly those in pediatric trials.

20 As mentioned by my colleagues who have
21 presented in this session, randomized trials are
22 generally the preferred option for providing

1 evidence of drug efficacy. We generally consider
2 these to be the gold standard for comparing
3 treatments, as we know that randomization will not
4 only take care of confounding by known factors, but
5 it will also remove any confounding by unknown
6 factors.

7 However, there are cases where randomized
8 control arm is not possible, and perhaps single-arm
9 data might fall short in providing the evidence
10 required for a particular type of treatment. And
11 in that case, an external control may be an option
12 for helping to estimate comparative treatment
13 effect.

14 As mentioned previously, there are a lot of
15 different ways that external controls could be
16 utilized in clinical trials. Certainly they can be
17 used to demonstrate natural history of disease or
18 even provide some evidence towards the contribution
19 of components to treatment effect. There may be a
20 use for external controls for establishing efficacy
21 from prior trials, so providing the null
22 hypothesis, let's say, for a single-arm trial. And

1 finally, we may even consider using them to compare
2 efficacy across treatment arms by supplementing or
3 replacing concurrent controls in a prospective
4 trial.

5 This last example would require the greatest
6 amount of, I think, evidence regarding the external
7 controls being appropriate for a particular
8 scenario, and it's very true that the source of the
9 data for the controls would determine the potential
10 use.

11 So if data is only available from published
12 literature, then we would not be able to use that
13 type of data for a comparative efficacy analysis.
14 However, if high-quality and complete patient-level
15 data is available from prior clinical trial data,
16 then we may be able to compare efficacy endpoints.

17 As my colleague Dr. Rivera described, the
18 study design is incredibly important in an
19 externally-controlled clinical trial. Principles
20 of good study design include avoiding differences
21 in the populations that result in groups that
22 cannot be compared, such as measurable endpoints

1 temporality, availability of variables, amongst
2 other items. Our basic goal in good study design
3 is to minimize the need for analytic tools to deal
4 with bias or confounding. We will not be able to
5 remove all sources of bias and confounding just by
6 design, but we can minimize a good deal of it.

7 Using the estimand framework in designing a
8 study, and then the corresponding analysis plan,
9 can allow for a detailed approach to determine the
10 important aspects of the study. This would include
11 the population of interest; the treatment or
12 intervention to be studied; the endpoint or outcome
13 that will measure the difference across groups;
14 intercurrent events which occur post-randomization
15 and can interfere with the interpretation of the
16 results if not appropriately accounted for; and
17 finally, the summary measure that's used to
18 describe the actual treatment effect.

19 This estimand framework can be particularly
20 helpful in studies of external controls or
21 real-world data because it allows for a systematic
22 approach to make sure that at the end of the day,

1 the design of the study is capturing the results
2 that you would like to measure.

3 When designing or writing the statistical
4 analysis plan for an externally-controlled trial,
5 it's very important to prespecify all analysis
6 plans and all approaches, and preferably done prior
7 to any look at the outcome data of the external
8 control. So if you're using data that has already
9 existed either in a registry form or prior clinical
10 trial, it's important to design this study and to
11 design the statistical analysis plan without
12 looking at the outcome data first.

13 Formal sample size and power calculations
14 are still relevant. Even though we're not using
15 them maybe to design a prospective study, it's
16 still important to identify these operating
17 characteristics of the study of interest, and it
18 may be helpful to prespecify a stringent type 1
19 error or alpha rate to ensure that the comparison
20 is appropriate.

21 Methods to control for various types of
22 bias, including selection bias and confounding,

1 should be specified. Some types of bias cannot be
2 addressed with analytic methods. That's why we try
3 to minimize these in the design stage. But what we
4 can do is consider sensitivity and supportive
5 analyses to understand the effects of these
6 potential biases; although we might want to
7 consider hybrid designs where we look at both
8 concurrently randomized control data as well as
9 external control data together to compare to an
10 experimental group, sometimes or usually with
11 Bayesian statistical methods, as this allows for
12 less reliance on external control data.

13 I described in the previous slide that
14 methods to control for or address certain types of
15 bias will be necessary in an externally-controlled
16 trial. There are many different types of bias.
17 I've listed three common ones here on this slide
18 that should be considered.

19 Selection bias, which is the bias that
20 exists when our comparative groups come from
21 different populations or populations with different
22 underlying distributions of characteristics, and

1 here we can use methods like balancing scores,
2 basically propensity scores, and inverse
3 probability weighting to make sure that our
4 populations are comparable;

5 Confounding, which can exist when a certain
6 characteristic is both related to the treatment
7 receipt and the outcome of interest, and again, we
8 can look at balancing scores and inverse
9 probability weighting, as well as other methods
10 like marginal structural models; and

11 Misclassification of the data, when data is
12 not characterized in the most appropriate
13 manner -- it may be missing or may be
14 mismeasured -- we can try to measure this
15 misclassification or validate measurements of
16 external data by measuring sensitivity,
17 specificity, positive predictive value, or negative
18 predictive value.

19 I want to focus now a little on balancing
20 scores because these tend to be very important in
21 studies that incorporate external control data.
22 The general idea is that we want to balance two

1 populations -- let's say here the experimental
2 treatment and the external control -- so that
3 whatever remaining differences are between the two
4 populations can be attributed solely to the
5 treatment effect.

6 The scores generally come from a model for
7 the treatment group that includes covariates that
8 may be related to both treatment assignment and
9 outcome. We can use these scores in many ways. We
10 can match, stratify, or weight in the analysis of
11 treatment effect. But it's important to recognize
12 that the use of these types of balancing scores,
13 like propensity scores, require very strong
14 assumptions, such as no unmeasured confounding, a
15 sufficient overlap of the two distributions, and
16 correct model specification for the balancing
17 score. And some of these assumptions can be very
18 difficult to verify, if possible at all.

19 In general, trials that compare an
20 experimental arm to an external control require a
21 high volume of good quality and complete data. We
22 want to avoid bias in ascertainment and definitions

1 of data across treatment groups because even small
2 discrepancies may make a very big difference in the
3 comparability.

4 Good study design really cannot be
5 underestimated here. They can help to avoid many
6 of the pitfalls using non-concurrent or
7 non-randomized data in external controls. Analytic
8 methods can help to address bias or confounding
9 that may remain after good study design, but they
10 cannot eliminate the threat of bias completely.
11 These methods require strong assumptions. But we
12 can also rely on sensitivity analyses to assess how
13 robust the results are to these assumptions.

14 Finally, I just want to give some final
15 statistician thoughts on external controls. I
16 would like to say that the burden of proof to
17 demonstrate that external controls meet the bar for
18 comparative analyses really should not be
19 underestimated. We really set this bar high
20 because we want to make sure that at the end of the
21 day, whatever treatment effect we can measure from
22 this comparison is really a measure of the

1 treatment effect and is not confounded by the many
2 factors that do exist in the comparison to external
3 controls.

4 So when considering a design that includes
5 external controls, we should also consider other
6 options that preserve the principle of
7 randomization but may be a little easier, let's
8 say, to incorporate in certain scenarios,
9 particularly in pediatric trials where sample size
10 may be limited. N to 1 randomization ratios;
11 pragmatic trials; and decentralized trials may be
12 very good options in these scenarios. They offer
13 some flexibility in trial design, but preserve the
14 principle of randomization.

15 Hybrid trial design is definitely a good
16 option as well because it allows for both
17 concurrent controls as well as external data to be
18 incorporated. It minimizes the risk of relying on
19 external controls completely. However, if you do
20 ultimately decide that an externally-controlled
21 clinical trial is the best option, then all
22 operating characteristics and statistical methods

1 should be prespecified to ensure the validity of
2 the comparison.

3 Thank you very much for your time and I'm
4 happy to take any clarifying question.

5 **Clarifying Questions**

6 DR. PAPPO: Thank you very much,
7 Dr. Mishra-Kalyani.

8 We will now take clarifying questions for
9 FDA presenters. Please use the raised-hand icon to
10 indicate that you have a question, and remember to
11 clear the icon after you have asked your question.

12 When acknowledged, please remember to state
13 your name for the record before you speak and
14 direct your question to a specific presenter, if
15 you can. If you wish for a specific slide to be
16 displayed, please let us know the slide number, if
17 possible.

18 Finally, it would be helpful to acknowledge
19 the end of your question with a thank you and end
20 of your follow-up question with, "That is all for
21 my questions" so we can move on to the next panel
22 member.

1 We will now start with questions. The first
2 one is going to be Dr. Cheng.

3 DR. CHENG: Yes. Hi. Good afternoon. Jon
4 Cheng, industry rep. I hope you can hear me ok.
5 Thank you to the FDA for a very thorough and
6 thoughtful presentation.

7 My question is, from the industry side,
8 there's obviously high-level interest on synthetic
9 controls, particularly the external control arm.
10 My question is, particularly the hybrid design, is
11 there a precedent or has there been good examples
12 where one can be referred to as to how to utilize
13 that so that there could be almost like a working
14 guide; or are we still a ways away in having the
15 electronic health records and individual patient
16 data, even for some vendors like Flatiron, to be
17 able to do it at the level of vigor that the FDA is
18 hoping for?

19 DR. MISHRA-KALYANI: Hello. This is Pallavi
20 Mishra-Kalyani. I will start with some response to
21 this question, but I know that my colleague,
22 Dr. Rivera, may also have some interesting comments

1 to add.

2 I don't believe at this point in oncology
3 that we have an example of a hybrid design that we
4 can point you to. I know that there are groups
5 that are working on hybrid designs for various
6 types of trials. Some of these have been presented
7 publicly, and there have been papers on this topic.

8 I would say that the best option for someone
9 who is considering a hybrid design is to bring that
10 proposal to the FDA. We do have statisticians with
11 experience in this area, and we can provide
12 feedback on both the design and the analysis plan
13 to ensure that, ultimately, you're on the best
14 track possible to ensure comparison to both the
15 concurrent control as well as the external control.

16 I'll add that this is a design that we think
17 is really preferable to relying solely on external
18 controls because if for some reason you are not
19 able to meet the burden of proof to ensure that the
20 external control data is compatible with the
21 prospective data from the randomized portion of the
22 study, you are at least left with, let's say, an

1 N to 1 randomization of the experimental arm to the
2 control arm, and that certainly will help provide
3 evidence for a comparative treatment effect, even
4 if it may be somewhat underpowered to what you
5 might have wanted for your hybrid design.

6 DR. CHENG: Thank you. I actually agree. I
7 do think the hybrid seems like a good next-step for
8 all of the reasons that were stated, but even then,
9 the bar seems fairly challenging. So that's where
10 a precedent or having that discussion would be
11 helpful, so that you can validate the external
12 control to be in line with what you expect to see
13 in your contemporary randomized control, for
14 example.

15 But the fact that there isn't maybe a
16 precedent suggests that obviously more work needs
17 to be done. And I was just curious as to it seems
18 like there is an opportunity to do that, but it is
19 a little bit dependent on how good the electronic
20 medical records are at a patient level. So that's
21 why I just had that background, but thank you for
22 the answer.

1 DR. RIVERA: This is Dr. Rivera. Maybe just
2 to add, I think part of what I concluded my
3 presentation with was exactly that; that the
4 community as a whole, there's a need for
5 methodological development in this area because it
6 is exactly to that point of the ability to validate
7 and contextually understand what is being
8 demonstrated.

9 So I would also encourage again and
10 emphasize that contact with the relevant review
11 division early and often is preferable to really
12 try to understand this emerging area.

13 DR. CHENG: Yes. Thank you.

14 DR. PAPPO: Does that satisfy your question,
15 Dr. Cheng?

16 DR. CHENG: Yes. Thank you.

17 DR. PAPPO: The next question is
18 Dr. Janeway.

19 (No response.)

20 DR. PAPPO: Katie, are you on?

21 (No response.)

22 DR. PAPPO: Okay. We'll jump to Dr. Duncan,

1 and then we'll come back to Dr. Janeway.

2 Ira?

3 DR. DUNKEL: Thank you. This is Ira Dunkel,
4 Memorial Sloan Kettering Cancer Center. My
5 question and comments I guess are both for
6 Dr. Rivera and for Dr. Mishra-Kalyani.

7 As a pediatric neuro-oncologist designing
8 phase 1/2 clinical trials in ultra-rare patient
9 populations, we're almost always forced to use
10 historical controls rather than developing
11 randomized studies. And I think that even with the
12 data that we have from existing clinical trials,
13 it's often very difficult, because of small
14 numbers, to develop an appropriate historical
15 control.

16 So should real-world data evidence be able
17 to help us develop more robust historical controls,
18 it would be really enormously beneficial.

19 I think the question part, maybe for
20 Dr. Mishra-Kalyani, is that one thing that we're
21 often struggling with is that as diagnostic tests
22 are developed and tumorous subtypes are identified,

1 the historical control data may not always have all
2 of the molecular subtyping of the tumors that we're
3 using to develop the current studies.

4 I guess the point is that we're almost
5 always being forced to come up with trial designs
6 that have some imperfections in them because of
7 tiny numbers of patients and lack of adequate
8 historical controls. I guess the question is, how
9 do you balance what is ideal versus what can truly
10 be accomplished, given these limitations? Thank
11 you.

12 DR. MISHRA-KALYANI: Thank you for that
13 question. I think it's a very important one.
14 Certainly, this is a major issue in most fields of
15 oncology, most disease areas, because our science
16 is getting better in that we're able to identify
17 subtypes, we're able to identify genetic and
18 molecular markers, biomarkers, and that certainly
19 is helping us push the field into the future with
20 specific information regarding these disease
21 subtypes. However, it does make cross-trial
22 comparison or cross-data source comparisons more

1 difficult because, as you mentioned, historic data
2 does not always have that information.

3 I think that there are a couple of factors
4 to consider. The first is whether or not a
5 particular genetic or molecular subtype or
6 biomarker is important with respect to prognostic
7 or predictive value. Just knowing that something
8 exists may be different from whether or not it
9 actually makes a difference to the patient care.
10 But certainly if we do know that it is important
11 and a data source does not contain that
12 information, then it most likely will not be a good
13 data source for a comparative analysis.

14 I would add to that, that we find that
15 external controls are probably going to be most
16 useful in disease areas where there has not been
17 much change in the science over time. For example,
18 non-small cell lung cancer might be a difficult
19 area to apply external control data because there
20 are a lot of targeted therapies, and prior data
21 sources may not have sufficient information with
22 respect to the testing of patient tissue or samples

1 to identify particular subsets of patients, genetic
2 markers, and molecular subtypes that are important
3 for identifying the appropriate control population.

4 But in other diseases, there has not been as
5 much, I guess, scientific progress. And for those
6 diseases, the patient population is consistent over
7 time, and the treatment for those patients in
8 various disease settings is consistent over time.
9 And in those cases, external controls may be more
10 likely to be of use.

11 DR. DUNKEL: Thank you for the response, and
12 thank you for the interesting presentations.

13 DR. PAPPO: We will now go back to Dr. Katie
14 Janeway.

15 DR. JANEWAY: Hi. Hopefully you can hear
16 me.

17 DR. PAPPO: Yes.

18 DR. JANEWAY: This is Dr. Janeway from
19 Dana-Farber and Boston Children's. This is a
20 question for Drs. Rivera and Mishra-Kalyani. Thank
21 you to all three speakers for the very nice
22 presentation. It's appropriate that I'm speaking

1 now, after the emphasis was placed on diseases
2 where there has been very little progress, which is
3 an area I work in, in osteosarcoma.

4 One question or one comment I have that I'd
5 be interested in your thoughts about is we actually
6 do have quite nice historical benchmarks that we
7 have been developing the Children's Oncology Group
8 over the last five years.

9 One nuance of using this evidence for
10 clinical trial design that I'd like to understand
11 better is the extent to which the people, the group
12 developing the trial, need to have access to the
13 actual data, the actual patient-level data, versus
14 being able to use something that's sort of been
15 presented in aggregate in a publication.

16 In other words, just to be very specific or
17 give a case example, if a pharmaceutical company
18 was interested in developing a product in
19 osteosarcoma and wanted to use our historical
20 benchmark data, would they have to access the
21 patient-level data, or could they use what's been
22 put in aggregate publication in a peer-reviewed

1 journal?

2 DR. MISHRA-KALYANI: Hi. This is
3 Dr. Mishra-Kalyani, and I'll start. And I'll ask
4 my colleague, Dr. Rivera, to also chime in, as I
5 know that she will have a lot to add to this.

6 I think it really depends on the disease
7 setting as well as the intent of the external
8 control data. We really do require individual
9 patient-level data that is very complete and of
10 good quality to compare external control to
11 experimental data.

12 However, if we are really only looking to
13 make sure that we have the appropriate study
14 design, or we have a very well understood natural
15 history from various different data sources and
16 data types, we can probably use a summary of the
17 data that's available to create a benchmark to
18 compare a response rate to or another endpoint that
19 would be appropriate in a single-arm trial.

20 That being said, if you are trying to design
21 a study, let's say, with a time-to-event endpoint
22 like event-free survival, or overall survival, or

1 progression-free survival, it's very difficult to
2 compare those two benchmarks. So then you would
3 really need the patient-level data for a
4 comparison.

5 I would say that which endpoint is most
6 appropriate for your particular disease and
7 treatment setting is something that you can discuss
8 with the agency. We're happy to have those
9 discussions, and depending on what the answer is,
10 that will also dictate what type of external
11 control, if any, is appropriate for that setting.

12 Dr. Rivera, would you like to add to that?

13 DR. RIVERA: Thank you, Dr. Mishra-Kalyani.
14 Yes, I will add.

15 This is Dr. Rivera. Thank you for the
16 question. I really agree that it's very
17 contextually dependent on the regulatory purpose.
18 As in my presentation, I kind of alluded to there
19 are two types we most often see, one being that
20 baseline, natural history type, and the second
21 being that comparative type.

22 I think in an individual patient-level

1 context, if you're going to use it as a comparator
2 and you want to do any type of characteristics of
3 the patients for matching purposes, or any other
4 statistical evaluations, and the true purpose is in
5 that comparison of effectiveness or safety, then it
6 would really be pertinent to have access to that
7 individual patient level data. However, as my
8 colleague has alluded to, it is dependent, and we
9 would encourage discussion with the relevant review
10 division.

11 DR. PAPPO: Does that answer your question?

12 DR. JANEWAY: It does, Dr. Pappo. I have a
13 follow-up question, but I can probably save it for
14 later in the day. Thank you.

15 DR. PAPPO: Thank you.

16 I'm next in the queue. I'm Alberto Pappo.
17 I had a question. It's expanding a little bit on
18 Dr. Janeway's question and also on Dr. Cheng's
19 question. It's mostly directed, I think, to
20 Dr. Corrigan-Curay.

21 Who makes the decision whether it's needed
22 to have additional real-world evidence with a

1 specific compound? Is it usually investigator
2 initiated, and they come to you, or they go to the
3 company; or does the company acknowledge that it's
4 a very rare disease, and that you need to have
5 additional information?

6 The other question is, are most of the
7 studies initiated by the drug company, and are they
8 mostly observational? How do you assure that the
9 quality of data is good enough to conduct such
10 trial?

11 DR. CORRIGAN-CURAY: Well, thank you for
12 that question, and certainly a lot to unpack there.
13 Real-world evidence is seen as another way to reach
14 substantial evidence of effectiveness. First of
15 all, it's more likely to be used in the post-
16 approval setting; that you're starting with an
17 evidence base and then seeing if you can build on
18 that evidence base using real-world evidence.

19 The applications that we're most likely to
20 see are sponsors proposing, perhaps, to expand an
21 indication. It may be a combination of other
22 evidence and real-world data; it may be that

1 they're seeking to see if they can do it purely
2 through an observational study; or perhaps, as has
3 been discussed in the last couple of questions, as
4 an external control.

5 There is great interest in using this for
6 observational studies on the basis that if the data
7 is collected and we discern causal inference
8 reliably, then certainly that could be much more
9 rapid than a clinical trial. But we've seen great
10 challenges in having a sufficient quality of data
11 to really understand and control for the different
12 factors that go into why one patient will get one
13 drug versus another.

14 So as we meet with sponsors -- and not only
15 part of the real-world evidence subcommittee but
16 obviously all the divisions -- and really exploring
17 that data, and what it is that the data has
18 reliably and what it is lacking, I would say that
19 usually has been one of the greatest challenges, is
20 you really often are lacking one or two elements
21 that we think is really critical to have to be able
22 to generate a comparable population.

1 I would say we're also very much encouraging
2 to integrate this into randomized clinical trials
3 and take the advantage of randomization, but trying
4 to understand whether you can capture some of those
5 endpoints through the real-world data.

6 I hope that answers your question, and I
7 would invite my colleagues also to jump in on that.

8 DR. PAPPO: It does. Thank you.

9 In the interest of trying to keep us on
10 time, I'm going to allow one more question, and
11 that's going to be Ted.

12 DR. LAETSCH: Thank you, Dr. Pappo.

13 This is Ted Laetsch from Children's Hospital
14 of Philadelphia. I think in light of our next
15 speakers talking about the Childhood Cancer Data
16 Initiative and Dr. Janeway's comments about
17 historical control data developed through COG, but
18 I recognize the importance of real evidence,
19 particularly for rare pediatric tumors where
20 randomized trials may not always be feasible.

21 There's been a lot of discussion about
22 conversations with sponsors, but I wonder what also

1 conversations the FDA has had with other groups
2 that gather this data to make sure that the data
3 meets standards, so when sponsors come to these
4 groups to ask about a potential control arm for
5 their study, that we have the data that they need.

6 DR. RIVERA: Hi. This is Dr. Rivera. Maybe
7 I'll start, and then ask Dr. Corrigan-Curay to also
8 comment. I can say that the Oncology Center of
9 Excellence does work collaboratively with a variety
10 of these data groups in order to advance regulatory
11 science exactly towards this purpose.

12 So we are engaged from the research aspect
13 in understanding this data and further developing
14 the methods with the goal of advancing the use of
15 real-world data for regulatory purposes and
16 improving patient access to therapeutics, so we
17 definitely are aware of this and are taking it into
18 account.

19 You also heard in the first presentation
20 from my colleague that the overall agency is
21 involved in a lot of demonstration projects and
22 efforts related to a deeper understanding of

1 observational methods and appropriateness of use of
2 real-world data to meet the substantial evidence
3 standard, so I will turn it over to my colleague
4 for any additional comments.

5 DR. PAPPO: Anyone else would like to expand
6 on the answer?

7 DR. CORRIGAN-CURAY: Yes. Hello. I'm sorry
8 I was muted. I would certainly say that we are
9 supporting demonstration projects and that we make
10 public the results we take two stakeholder meetings
11 to really get the message out of what we think is
12 quality data and how to generate that.

13 We've met with a number of small companies
14 in the rare disease space who are trying to set up
15 registries and other ways to generate quality
16 real-world data in order to support applications.
17 We've met with them. And certainly as our guidance
18 comes out, that will also be for others than
19 sponsors, but others who are trying to generate
20 this type of data. Thank you.

21 DR. PAPPO: Does that answer your question,
22 Ted?

1 DR. LAETSCH: Yes. Thank you very much.

2 DR. PAPPO: Okay. We will now proceed to
3 additional speakers. Our next speaker is Dr. James
4 Doroshow.

5 **Speaker Presentation - James Doroshow**

6 DR. DOROSHOW: Hello? Hi. This is Jim
7 Doroshow. I'm the deputy director for clinical and
8 translational research at the NCI, and it's a real
9 pleasure to join you today. I want to thank the
10 FDA for inviting me to present to the Pediatric
11 Oncology Subcommittee. In my remarks, I will
12 provide a high-level version of the NCI's effort to
13 establish a childhood cancer data initiative and
14 explain some of the initiative's activities and
15 plans.

16 As everyone knows, cancers in children and
17 young adults are rare and represent a small
18 percentage of all of the cancers diagnosed annually
19 in the United States, and this poses, really, many
20 research challenges. There simply aren't enough
21 data, even in very large pediatric cancer centers,
22 to provide answers for these patients.

1 Through the Childhood Cancer Data
2 Initiative, we are trying to build a community
3 focused on childhood cancer care and research data.
4 As an early step toward building this community, in
5 July of 2019, we had our first NCI-supported
6 symposium, and many ideas were shared through
7 breakout groups, presentations, posters, and
8 discussions to advance this notion. Fortunately,
9 in 2020, the NCI was the beneficiary of a
10 \$50 million per year, over 10 years, congressional
11 appropriation to really establish and to move the
12 effort in pediatric data forward.

13 In June 2020, a group of 14 investigators
14 and advocates participated in the ad hoc NCI Board
15 of Scientific Advisors working group, and the group
16 included advocates and experts in pediatric
17 hematology/oncology, bioinformatics, clinical
18 informatics, and drug development.

19 These individuals, with this diverse
20 expertise in pediatric research, sought to define
21 the pressing scientific questions that, if
22 answered, would provide the opportunity to deliver

1 significant changes in outcomes for children with
2 cancer. They also brought their experiences with
3 many related childhood cancer data and research
4 initiatives, their data repositories, tissue
5 repositories, registries, as well as legislation
6 such as the RAISE Act and the STAR Act.

7 The report that this group generated
8 provided 24 distinct recommendations for NCI to
9 consider in planning the implementation of the CCDI
10 over the coming nine years. Those recommendations
11 fell into seven primary sections and considered the
12 current landscape of pediatric and AYA cancer
13 research data, including areas of need and
14 potential barriers to collecting and sharing the
15 information in both a research and a clinical
16 setting across many institutions. The group
17 additionally provided suggestions for engaging with
18 a wide variety of stakeholders to inform CCDI
19 priorities, as well as ideas for innovation and
20 transformation within this field.

21 I won't go through all of these
22 recommendations, however, most of them can be

1 collectively summarized in five key
2 recommendations. The CCDI activities the NCI has
3 begun to pursue in the first two years directly
4 address critical suggestions, and it's important to
5 note that NCI has received these same
6 recommendations in multiple forums validating their
7 significance to the pediatric cancer research and
8 care communities.

9 The feedback received at the CCDI kickoff
10 symposium last year further affirms the goals and
11 intent outlined in these recommendations. First
12 and foremost, the NCI received strong encouragement
13 from the community to provide adequate resources to
14 reduce barriers and furthering of data sharing,
15 broadly and openly, for cancer research through
16 financial support, programmatic priorities, and
17 thoughtful policy.

18 In addition, the recommendations included
19 NCI taking a lead role in establishing a federated
20 data infrastructure that identifies and connects
21 data of all types, including clinical trials and
22 outcomes, preclinical testing, molecular and

1 biomedical markers, and surveillance data.

2 It's really imperative that such an
3 ecosystem will have the resources and the
4 technology to manage, enrich, and analyze all of
5 these different types of data to really enhance our
6 ability to understand how to make progress in
7 pediatric cancer. Further, maintaining a strong
8 central resource catalog of all available pediatric
9 data sets, bioassessments, tools, workflows, and
10 resources, as it is being developed, should go a
11 long way to serve immediate needs of multiple
12 stakeholders across the pediatric gateway cancer
13 and survivorship communities.

14 Keeping in mind the newly enacted law put
15 forth in the RACE for Children Act, the working
16 group recommended NCI look for ways to aggregate
17 with preclinical testing and cancer model data in a
18 way that could better inform rapid pediatric
19 clinical translation, and particularly strategies
20 that might identify or validate variants curated on
21 the FDA Relevant Molecular Targets List to drive
22 drug development in young patients.

1 Finally, more than half of the
2 24 recommendations, as well as their primary
3 suggestion for transformative discovery, can be
4 directly addressed through the development of a
5 national strategy for biospecimen collection and
6 archiving of germline and tumor samples from every
7 child diagnosed with cancer, regardless of
8 enrollment on a therapeutic trial.

9 The recommendations further encourage this
10 strategy to include baseline genomic testing of all
11 patients, including linking translational data to
12 outcomes and providing deep sequencing of all
13 relapse cases to ensure that we are learning from
14 every patient, including those in underserved
15 populations.

16 With those activities as a starting point,
17 NCI is working to identify the many existing data
18 types that we can build upon and to identify the
19 gaps that need to be filled with an aim to make
20 connections between data and people focused on
21 helping children. Several important steps are
22 needed to achieve these goals. We need to improve

1 the quality of the data, improve the consistency of
2 the data, and most importantly, perhaps improve the
3 accessibility of the data.

4 Childhood cancer data is often stored at a
5 hospital or institution where the child is treated,
6 and no single institution treats enough children to
7 move all the research questions we have forward
8 fast enough. We can learn faster to improve the
9 future for children by connecting these data and
10 sharing it with the entire cancer research
11 community.

12 Although substantial progress has been made
13 in the treatment of several types of childhood
14 cancer over the past five decades, progress against
15 other types has really been limited. One potential
16 benefit of the CCDI is to make more rapid progress
17 in improving existing treatments for childhood and
18 AYA cancers and developing new therapies where none
19 exist. Progress will also improve outcomes,
20 quality of life, and survivorship.

21 The long-term outcomes of cancer go far
22 beyond initial cancer treatment. Even when

1 long-term survival is achieved, many survivors may
2 experience long-term adverse effects from the
3 disease or its treatment. The late effects of
4 childhood cancer therapy can have profound quality
5 of life, physical, emotional, and other
6 consequences for survivors, including a shortened
7 life expectancy.

8 More research is needed to develop new and
9 more effective and safer treatments. CCDI will
10 facilitate the infrastructure that will allow
11 researchers to learn from every child and cancer
12 now and through their survival.

13 The current fiscal year is the second of ten
14 years for which funds were allocated by Congress
15 for this initiative. In the short time, we've seen
16 the community come together to build the shared
17 understanding of the challenges and opportunities
18 that can be addressed by CCDI.

19 In fiscal year '20, NCI received \$50 million
20 to allocate toward enhancing the collection,
21 sharing, and use of pediatric and AYA cancer
22 research data. CCDI activities in fiscal year '20

1 focused largely on extending and augmenting
2 foundational programs and in developing systems
3 that will support future activities of the CCDI.

4 NCI allocated CCDI funds in 2020 for a range
5 of research activities in childhood cancer and
6 survivorship through intramural and extramural
7 grants, contracts, and a system of administrative
8 supplements. The 2020 activities started by
9 building a foundation for data sharing, analysis,
10 and access that will support and enhance childhood
11 and AYA cancer and survivorship research in future
12 years.

13 Now in our second year, CCDI leadership is
14 actively planning research opportunities that will
15 build upon advisory group recommendations, insight
16 from diverse stakeholders in pediatric and AYA
17 cancer communities, and CCDI activities initiated
18 last year. The long-term vision for NCI and for
19 the pediatric cancer community is to enhance data
20 sharing, accessibility, and usability in a way that
21 it's most beneficial broadly. We want to use this
22 initiative in childhood and AYA cancers to serve as

1 an example for how the larger adult research and
2 cancer community can also maximize their data to
3 enhance benefit.

4 NCI has already begun efforts to develop a
5 searchable online catalog to help users find and
6 access existing pediatric data, tools, and
7 resources. To this end, a landscape analysis of
8 existing childhood and AYA cancer data and
9 repositories was completed with the extra goal of
10 understanding the potential for connecting or
11 linking both systems and any analytic pipelines
12 with NCI resources.

13 In addition, we are indeed creating an
14 infrastructure for CCDI that will provide federated
15 data from multiple community-driven and
16 NCI-supported data resources, those that already
17 exist and new resources under development. The
18 first of these up and coming NCI-supported data
19 resources for CCDI include a national childhood
20 cancer registry of linked patient data and
21 pediatric preclinical data commons that will
22 maintain and analyze preclinical testing and cancer

1 model data.

2 CCDI was further able to support some
3 administrative supplements to cancer centers and
4 associated pediatric care centers and the
5 initiative to link existing pediatric research and
6 clinical care data, and tools, and pipelines for
7 the development of NCI repositories and the
8 National Childhood Cancer Registry.

9 Multiple supplements were awarded that
10 included support for data and tools that will come
11 from research on ultra-rare tumors or facilitate
12 validation of clinical variants that could inform
13 the FDA Relevant Molecular Targets List.

14 While efforts are underway to develop the
15 National Childhood Cancer Registry and the
16 Pediatric Preclinical Data Commons here at the NCI,
17 we recognize that the CCDI activities starting in
18 fiscal year '21 and moving forward will need to
19 incorporate mechanisms to more actively federate
20 with additional clinical and research data
21 resources, both within and outside the NCI.

22 Our vision for establishing a wider

1 ecosystem includes many types of data from
2 discovery science, patient care, population data,
3 surveillance studies, and how that can be queried
4 in a meaningful way by a variety of stakeholders.

5 Components of this federated pediatric care
6 data ecosystem include an essential underlying data
7 science infrastructure; enhanced cloud-computing
8 platforms; and services that link disparate
9 information stored in data repositories and
10 registries.

11 Successful implementation of this
12 infrastructure will require development and
13 promotion of standards and tools for data
14 interoperability, as well as a clear plan for
15 sustainability and data governance to ensure the
16 long-term health and function of this ecosystem.

17 A key objective for NCI through the CCDI is
18 to make data more useful by creating easily
19 accessible resources for translation and impactful
20 analysis. CCDI has funded multiple efforts to
21 develop and refine computational methods, analytic
22 and management tools, and pipelines that can be

1 shared through NCI resources for use with a variety
2 of clinical and research data sets. These projects
3 include functional capabilities that address better
4 interpretation of pathology images and patient
5 reports; identifying and validating relevant
6 molecular therapeutic targets; sustained data
7 curation to repair various relevant data sets for
8 integration with our NCI resources; and refining
9 and scaling of natural language processing
10 algorithms for real-time data capture.

11 CCDI has initiated efforts to enable data by
12 creating user-friendly interactive data portals for
13 resources and interfaces that are more readily
14 accessible for multiple types of stakeholders.
15 We're also taking steps to start developing
16 frameworks for a harmonized pediatric data model
17 and terminologies for pediatric cancer.

18 Traditionally, data has often been collected
19 or generated for a specific need or hypothesis.
20 However, the advent of larger data sets and
21 innovative technologies is shifting this paradigm
22 to include using data for novel discovery and

1 hypothesis generation as well. Creating more
2 comprehensive and meaningful data sets through the
3 CCDI will help the research and clinical care
4 communities to better understand each type of data
5 and its effects on pediatric cancer over time.

6 A critical objective for CCDI is to fill
7 knowledge gaps in childhood and AYA cancers and
8 survivorship by generating and augmenting key
9 data sets for improved analysis. To further
10 support and enhance essential NCI projects like
11 Pediatric MATCH and the Childhood Cancer Survivor
12 Study, CCDI has invested in molecular
13 characterization and sequencing in germline and
14 tumor samples of multiple tumor types, pediatric
15 preclinical models, and secondary cancers arising
16 in survivors.

17 We are supplementing research focused on
18 germline genetic factors and environmental
19 exposures from pesticides and agricultural toxins
20 to further understand the biology of certain
21 pediatric and AYA cancers, including risk and
22 susceptibility in patients, survivors, and their

1 families. CCDI has additionally supplemented the
2 development of molecular characterization of
3 patient-derived cancer models and cell lines in
4 pediatric brain cancer and solid tumors.

5 Finally, CCDI is committed to activities
6 directly focused on biomedical research and
7 clinical care that can be more readily translated
8 into novel therapeutic options. These data types
9 are critical to inform new treatments and improve
10 the lives of patients and survivors.

11 Because NCI is fundamentally a research
12 organization invested in many areas of cancer
13 research, from epidemiology studies to preclinical
14 and clinical models, we have a unique ability to
15 facilitate the sharing of data that can be used to
16 support the broader community in critical care and
17 treatment for childhood and AYA cancer patients.

18 CCDI is supporting research and data
19 collection that will help validate variants on the
20 FDA's Relevant Molecular Targets List. We're also
21 expanding the value of pediatric reference data
22 through a robust Rare Pediatric Tumor Cell Atlas

1 and the abilities for translation of those data
2 into the clinic.

3 CCDI has invested in pilot projects to
4 improve and enhance efficiency of clinical trial
5 data through comprehensive federal monitoring,
6 safety reporting, as well as increased quality of
7 data collected directly from patient-reported
8 outcomes.

9 CCDI has invested in pilot projects, as well
10 as seeking to improve therapies by supplementing
11 research to explore clinical implications of
12 specific treatments, including risks and benefits
13 of pediatric proton therapy, genetic
14 susceptibility, to adverse effects post-treatment.

15 In addition to planning these opportunities
16 to federate data repositories and registries into
17 the larger ecosystem, CCDI leadership is currently
18 working on a strategy for implementation of a
19 phased protocol that includes clinical and
20 molecular characterization and rapid access to the
21 resulting data with a goal of scaling to ensure
22 availability to every child diagnosed with cancer

1 in this country.

2 In December 2020, Dr. Warren Kibbe and I
3 presented NCI's strategic plan for CCDI in response
4 to the CCDI working group recommendations, which
5 included establishing a guidance structure to focus
6 the ongoing and new activities, which you can see
7 in this slide.

8 The National Childhood Cancer Cohort working
9 group will focus on strategies to gather data from
10 every child diagnosed with cancer, regardless of
11 where they received their care, and includes the
12 development of a national childhood cancer
13 registry.

14 The Molecular Characterization Protocol
15 working group will work to develop and implement a
16 national strategy, building on existing efforts,
17 including COG's Project Every Child, to offer
18 appropriate clinical and molecular characterization
19 to all children with cancer. This will include
20 tumor and germline [indiscernible] characteristics
21 and enable research using patient-level data in a
22 secure and de-identified way.

1 The Data Platform working group is working
2 to define a strategy and an approach to develop a
3 platform to federate, aggregate, and integrate data
4 from all relevant NCI-supported and community-based
5 childhood data sources. This will be a system that
6 can bring data of different types from different
7 sources together in a way that incentivizes
8 researchers to query the available data in new
9 ways.

10 A cross-cutting issues team will address
11 issues that affect each of the individual areas of
12 focus in a collaborative and coordinated fashion,
13 such as the use of data standards and data flow
14 between systems, and the steering and engagement
15 committees provide a high-level, strategic
16 oversight in community outreach for CCDI to ensure
17 that the initiative remains in line with the needs
18 and priorities of the large childhood cancer
19 community. Each working group is led by NCI staff
20 and external experts, and all groups contain
21 diverse expertise and advocates.

22 The Childhood Cancer Data Initiative is a

1 community effort committed to learning from every
2 child by using data to improve treatments, quality
3 of life, and survivorship. We're working to use
4 each critical piece of data to help the research
5 and clinical care communities understand how each
6 cancer patient and their data can be used to
7 improve the lives of children and of families
8 everywhere. Thank you so much for your kind
9 attention.

10 DR. PAPPO: Thank you very much,
11 Dr. Doroshow. We will now proceed to the next
12 speaker, Dr. Malcolm Smith.

13 **Speaker Presentation - Malcolm Smith**

14 DR. SMITH: Hello. I'm Malcolm Smith in the
15 Cancer Therapy Evaluation Program at NCI, and I'll
16 be talking on the National Childhood NCI targeted
17 cancer data initiative with CCDI, and really
18 drilling down on some of the things that
19 Dr. Doroshow explained about how the CCDI project
20 may be useful as a real-world and real-world
21 evidence resource for pediatric oncology. And I do
22 first want to thank Dr. Reaman and FDA for the

1 opportunity to speak at this important meeting.

2 As Dr. Doroshov explained, the Childhood
3 Cancer Data Initiative is to learn from every child
4 by better collecting data, multiple data types,
5 improving quality, consistency, and the
6 accessibility from every child; develop new
7 treatments; and improve outcomes, quality of life,
8 and survivorship.

9 The CCDI began planning this past year, and
10 in year 1, some pilot projects were initiated,
11 supplements, [indiscernible]. Some of these things
12 are [indiscernible] during the presentation like
13 the National Childhood Cancer Registry, the
14 Pediatric Preclinical Data Commons, an Open Targets
15 platform.

16 Supplements were given to cancer centers for
17 submission of data to allow aggregation of
18 patient-linked clinical and molecular data to begin
19 to learn how we can take data from multiple cancer
20 centers and [indiscernible].

21 [Inaudible - audio distorted] with Pediatric
22 MATCH and doing the comprehensive genomic

1 characterization of approximately 500 patients with
2 specimens from diagnosis and relapse, as well as
3 germline, so we can learn more about the
4 progression of genomic changes from diagnosis to
5 relapse, and also more for the patients who did
6 enter one of the therapeutic arms of Pediatric
7 MATCH to learn more about the genomic correlates of
8 response.

9 The CCDI has three major prongs that
10 Dr. Doroshov described, and I'll be speaking to
11 each of these, the National Childhood Cancer
12 Cohort, the Molecular Characterization Protocol,
13 and the Data Platform.

14 First, I'll speak on the National Childhood
15 Cancer Registry. This builds on core data derived
16 from cancer registries, state cancer registries,
17 and the SEER registries. These data are extended
18 and expanded to include additional relevant
19 information such as detailed treatment data,
20 genomic characterization, the trajectory of care
21 from diagnosis throughout life, including second
22 primary cancers and recurrent disease, and other

1 relevant factors related to risk and outcome such
2 as residential history.

3 This registry will integrate within the CCDI
4 federated data ecosystems, and in support it will
5 include data on a broader set of patients and those
6 covered at COG facilities. The majority of
7 patients are seen at COG member institutions, but
8 not all, and they learn more about who these
9 patients are that are not seen at COG member
10 institutions.

11 This is a conceptual framework for the
12 National Childhood Cancer Registry. In the middle
13 is the National Childhood Cancer Registry database,
14 and this combines de-identified data submitted from
15 SEER cancer registries, from the non-SEER
16 registries, and it creates a virtual whole registry
17 of de-identified patient data.

18 The data from the registries is
19 supplemented, and if you look on the left side by
20 other data sources, the ones that are in current
21 use include LexisNexis for information about
22 residential history and other items. There are

1 linkages to state vital records in the National
2 Death Index and other linkages for demographic
3 characterization.

4 [Indiscernible - audio distorted] -- how
5 they can be incorporated into the National
6 Childhood Cancer Registry. For example, additional
7 state records like the birth records, blood spots;
8 data from the Children's Oncology Group becoming
9 part of the registry database; genomic and genetic
10 data from multiple sources, and I'll say more about
11 plans for Project [indiscernible] and data from
12 [indiscernible] companies as well, about individual
13 patient, there's clinical data, genomic data into
14 this registry database.

15 This slide shows a schematic of how we
16 envision the NCCR data products being accessed. Up
17 at the top, you see the NCCR and its registry data,
18 the virtual pool registry linkage, things like
19 LexisNexis and other data sources coming into that.

20 The data outputs from that and the way the
21 data can be accessed, some of these are similar to
22 how data are accessed, for example, from the SEER

1 program now, so there will be published reports of
2 the data, and there will be access to programs like
3 SEER STAT, as you can see under number III under
4 Canned Analysis.

5 But as well, there will be access to
6 individual level data both for cloud analysis and
7 for downloading individual level data for study.
8 This would be for research projects that have
9 undergone IRB review, but it will provide a way for
10 the research community to access and make use of
11 the data from the National Childhood Cancer
12 Registry.

13 The next thing I'll talk about is the CCDI
14 Molecular Characterization Project. Here, as
15 Dr. Doroshov said, we do have a working group of
16 experts charged with advising NCI on a national
17 strategy for offering appropriate clinical and
18 molecular characterization to every child with
19 cancer so that every child would have access to the
20 characterization needed for a precise diagnosis and
21 information about prognosis, defining the potential
22 from molecularly targeted therapies, as well as

1 creating a comprehensive molecular and clinical
2 data set that would enable future discoveries. The
3 working group will facilitate development of the
4 Molecular Characterization Protocol that's
5 described on the next slide.

6 This is a partnership with COG. The goal,
7 again as Dr. Doroshov said, is to provide a
8 nationwide genomic characterization platform to
9 make state-of-the-art testing available to every
10 child throughout the country, and then to develop
11 ways to learn from every child by collecting the
12 characterization data and clinical data for use by
13 researchers.

14 This will be a pilot initially [inaudible -
15 audio gap]. This may be as many as up to
16 3,000 patients per year. To walk you through how
17 this is envisioned to work, the persons on the
18 panel and in the audience who are COG members are
19 well aware of Project Every Child. This is a
20 non-therapeutic clinical trial that's offered to
21 every child that presents to a COG member
22 institution, and it allows for the collection of

1 data, clinical demographic data, on the patient and
2 follow-up data on the patient, as well as the
3 collection of tumor specimens and blood specimens
4 for research purposes.

5 What's being built into Project Every Child
6 by the Molecular Characterization Protocol is a
7 specimen collected in Project Every Child and in
8 the bio repository that will then be sent for
9 clinical genomic characterization in
10 CLIA-accredited laboratories; DNA analysis, either
11 whole exome sequencing or NGS-targeted panels; RNA
12 sequencing with a special focus on fusion; and then
13 DNA methylation array analysis, which will be
14 especially important for the CNS [indiscernible]
15 patients.

16 These data will be available within 14 to
17 15 days, will be returned to the treating
18 physician, and will be able to be used by the
19 physician to either determine eligibility for a COG
20 clinical trial, as well as to help guide the
21 clinical care of that patient.

22 Another aspect of the molecular

1 characterization is that there's a research and
2 discovery component shown at the lower-left side,
3 where if there is nucleic acid remaining after the
4 clinical characterization, then additional studies
5 can be performed to better understand the cancer,
6 whole genome sequencing, single-cell RNA analysis,
7 and others.

8 Perhaps most importantly, the data -- the
9 clinical demographic data, the follow-up data from
10 the patient -- is collected through Project Every
11 Child, as well as the molecular characterization
12 data, and will be in an NCI data repository so that
13 it can be made available to the research community
14 to make discoveries relevant to the treatment,
15 diagnosis, and prognosis of children with cancer.

16 Where we're at with this, the goal is to
17 have the protocol molecular characterization aspect
18 of Project Every Child activated in the second half
19 of 2021. We're working with Leidos Biomedical to
20 identify vendors for the sequencing, with plans for
21 one vendor for DNA or RNA sequencing and DNA
22 methylation analysis. There could be up to three

1 in total, or if one can do everything that would be
2 great as well. The proposals have been received,
3 and they're currently undergoing review through
4 Leidos Biomedical.

5 So just to summarize this project, it will
6 provide clinical genomic characterization,
7 initially focusing on the CNS tumors, sarcomas and
8 selected rare cancers; DNA sequencing; RNA
9 sequencing and the DNA methylation arrays; and
10 additional research and molecular characterization
11 when there are bank specimens remaining after the
12 clinical characterization. Then this data goes
13 into the data repository so that it can be useful
14 for future research.

15 Another thing I wanted to quickly discuss
16 with you is the Pediatric Preclinical Data Commons
17 and Open Targets platform. This is not necessarily
18 useful for real-world data, though persons
19 interested in real-world data as part of pediatric
20 drug development will probably also be interested
21 in this project as well.

22 What we're planning through CCDI to do is to

1 develop an open-target instance for childhood
2 cancers. Open Targets is a large-scale, multiyear,
3 public-private partnership that uses human genetics
4 and genomics data for systematic drug-target
5 identification and prioritization. There's the
6 Open Targets platform that integrates public domain
7 data to enable target identification and
8 prioritization, and its genetic portal identifies
9 targets based on GWAS and functional genomics.

10 The CCDI is supporting development of an
11 Open Targets instance for childhood cancers, and
12 this is being led by Leidos with Dr. John Maris and
13 Deanne Taylor from CHOP, working on the project.
14 The idea is there is an Open Targets pipeline, and
15 through that pipeline, we'll be adding pediatric
16 cancer data.

17 I show examples of the type of data that
18 would be added: project genomic data; the
19 Pediatric Preclinical Testing Consortium data;
20 KidsFirst genomic data; other brain tumor genomics
21 and clinical data; as well as adult reference data
22 like GTEx and TCGA. Open targets have platform

1 data as well, as shown on the figure.

2 The goal would be, then, to use the Open
3 Targets' analytic capabilities and the pediatric
4 data incorporated into it to be able to address
5 questions like: is there a genome target of
6 interest that is not currently on the Relevant
7 Molecular Targets List that perhaps should be
8 added; if there's a new drug with a specific target
9 being developed; are there specific pediatric
10 cancers for which that drug and its target might be
11 relevant; and for a research or science specific
12 childhood cancer, what are the specific Relevant
13 Molecular Targets List targets that might be most
14 relevant to that childhood cancer?

15 This project is getting off the ground now,
16 and we hope will be useful for pediatric drug
17 development. Again, the idea is that there is an
18 Open Targets platform and that we can add pediatric
19 cancer data to that platform, curate the data,
20 develop additional analytic capabilities to make
21 use of the data, and really inform pediatric cancer
22 target identification and prioritization.

1 Another thing to describe is the NCTN and
2 NCORP Data Archive. This actually predates the
3 CCDI project, but it's very relevant to the
4 real-world evidence and real-world data discussion.

5 The NCTN/NCORP Data Archive is a centralized
6 controlled-access databases that contains
7 individual patient-level clinical data. It
8 promotes the sharing of NCI-supported clinical
9 trial data from the NCCN/NCORP programs and
10 fulfills the requirements for data sharing, and it
11 complements other NCI data sharing activities that
12 focus on other things like genomics, or imaging
13 data, or the sharing of specimens which uses a
14 Navigator portal for disseminating that
15 information.

16 The Data Archive includes clinical data from
17 phase 3 trials from the NCTN program that were
18 published as of January 1, 2015 and for the trials
19 that had non-primary publications published as of
20 April 1, 2018. The submissions of the data are due
21 within six months of the earliest publication date,
22 and for each trial, there's a clinical data set and

1 associated data dictionary and meta-data. The data
2 sets are patient-level, de-identified and include
3 values for all of the variables that were used in
4 the publishing of these.

5 Access to the data requires completion of a
6 data request form and a signed data use
7 authorization form. But it's important to note
8 that the NCI review of these forms is for adherence
9 to legal and administrative requirements, and
10 there's not a scientific review for the data
11 requests.

12 This slide just shows the number of trials
13 for which there are data in the NCTN/NCORP Data
14 Archive. Of note here, there are 38 COG clinical
15 trials for which data have been submitted. These
16 are data from stage 3 COG clinical trials.

17 The last thing I want to discuss is the
18 Childhood Cancer Data Platform, and this really is
19 the glue that holds the whole thing together. It's
20 designed to curate data from multiple children's
21 cancer institutions and community-based and
22 NCI-supported childhood AYA data resources; to make

1 patient-level data available from these sources; to
2 provide easy access to enable deep analytics; to
3 support interoperability among existing data
4 resources and to provide tools and other resources
5 to facilitate this; and to provide a central portal
6 to find and analyze childhood and AYA cancer data.

7 The final point I want to make -- and these
8 are really just some caveats or cautionary
9 notes -- is I really think that the Childhood
10 Cancer Data Initiative can make important
11 contributions in providing real-world data, but
12 there are challenges.

13 The challenge of small patient numbers
14 really can't be overestimated. You have low
15 incidence cancers, and for many of these, we
16 further subdivide them by molecular biomarkers.
17 Take a tumor like atypical teratoid rhabdoid
18 tumors, or ATRT, it's already rare. And for a
19 particular project or drug development program, it
20 may be a particular subset, a molecularly-defined
21 subset, of ATRT that's most relevant. So the small
22 numbers are just extremely challenging.

1 There's a challenge with variable outcomes
2 and diagnosis. Really, aside from DIPG, which has
3 a terrible outcome, [indiscernible] -- for most
4 other childhood cancers there is variability in
5 outcomes. It depends on multiple things, some of
6 which we understand, some we don't. But molecular
7 factors, the tumor-staging factors, and the ability
8 for surgical resection and [inaudible - audio
9 gap] -- relapse. Much of the drug development
10 we're talking about may occur in that setting.

11 So these challenges become particularly
12 important, the prior therapy that patients, receive
13 their prior response to therapy and, again,
14 molecular factors [inaudible - audio gap] -- and
15 then the overall general challenge of data
16 collection after initial treatment failure.

17 When I spoke of the data archive, those were
18 COG [inaudible - audio gap] patients newly
19 diagnosed. There are fewer trials from children
20 that relapse. There are far few patients that
21 enroll on those trials, so there's limited data,
22 and there are real challenges in collecting data

1 after the initial treatment failure.

2 The final point is the challenge of time
3 required to identify sufficient numbers of cases in
4 low-incidence populations and then follow outcomes
5 over several years.

6 I bring this up because I do think the
7 Molecular Characterization Protocol will be
8 providing really useful information that could be
9 helpful in providing real-world data for various
10 projects. But if we started this year and we
11 enroll them, it's going to be two or three years,
12 at a minimum, before we begin to have the kind of
13 follow-up that would be important.

14 Then because these are rare cancers, to get
15 enough patients, it may take two or three years of
16 enrollment to get sufficient numbers, and then
17 another two or three years for adequate follow-up.
18 These are all challenges just to be cognizant of
19 when we talk about our ability to use data sources
20 like these for real-world evidence.

21 My point in ending with this isn't to say
22 that getting real-world, fit-for-purpose data from

1 childhood cancers through programs like the one I
2 described is impossibly difficult because I don't
3 believe that. But there are challenges in the
4 pediatric setting that may not apply to the adult
5 cancer setting, the more common adult cancers that
6 may not allow quick, or easy, or cheap solutions;
7 and furthermore, the time and resources that will
8 be needed to prepare the high-quality data that
9 will be required for the data to be used for
10 generating real-world evidence.

11 So I again thank you for the invitation to
12 speak and will be glad to entertain questions
13 during the question period.

14 **Clarifying Questions**

15 DR. PAPPO: Thank you very much, Malcolm.

16 We will now take clarifying questions from
17 the speakers. Please use the raised-hand icon to
18 indicate that you have a question, and remember to
19 clear the icon after you have asked your question.
20 When acknowledged, please remember to state your
21 name for the record before you speak and direct
22 your question to a specific presenter, if you can.

1 If you wish for a specific slide to be displayed,
2 please let us know the slide number, if possible.

3 Finally, it would be helpful to acknowledge
4 the end of your question with a thank you and end
5 of your follow-up question with, "That is all for
6 my questions" so that we can move on to the next
7 panel member.

8 Now we will be ready for questions, and
9 we're going to start with Will Parsons.

10 DR. PARSONS: Thank you. This is Will
11 Parsons from Texas Children's Hospital and Baylor
12 College of Medicine. This is a question for either
13 Dr. Doroshov or Dr. Smith.

14 One comment first is I'm a strong proponent
15 of the CCDI project and its potential to provide an
16 invaluable framework for pediatric cancer research.
17 I guess one question I was thinking about as I
18 heard the presentation was whether you could
19 comment a little further on how this CCDI pediatric
20 cancer data ecosystem is anticipated to interface
21 or complement similar adult initiatives, both
22 within NCI as well as other institutes, such as

1 NHGRI.

2 It's critical to maximize the benefit of the
3 data, not just for AYA patients, but also in terms
4 of trying to make inferences about molecular data,
5 responses to molecular therapies and
6 immunotherapies, and then also potentially for
7 cancer susceptibility questions, many of which are
8 diseases that are more penetrant in adulthood.

9 Malcolm commented a little bit I believe on
10 this, on probably the Open Targets project, but I'd
11 be curious to hear a little more on your thoughts
12 about interaction with the adult oncology world.

13 DR. SMITH: Dr. Doroshov, do you want to
14 take the lead in answering that?

15 DR. DOROSHOW: Sure. I'll give it a shot
16 since I'm a medical oncologist. Let me just say,
17 to the best of my knowledge -- and I have been in
18 charge of the Division of Cancer Treatment and
19 Diagnosis for 17 years, and nothing even remotely
20 like CCDI exists or is planned for adult
21 malignancies, and it would be incredibly important
22 to do so, if we could do so.

1 But really, one of the major features of the
2 CCDI is as a test of whether or not we can get all
3 of the various communities -- and they're a smaller
4 number of pediatric communities -- to actually work
5 together to make this goal happen, because if it's
6 possible, it gives us a stimulus that would be very
7 hard to resist; in particular, a stimulus to make
8 public the enormous amount of data that exists.

9 I could offline talk for hours about how
10 long it took to get the patient Navigator and the
11 Archive up and running. It took a decade or more,
12 and we only have phase 3 trials. So I think these
13 are just enormously important activities, because
14 if it can be shown to be done in the pediatric
15 setting, then we have a way forward, I think, to do
16 this in the larger adult cancer community.

17 DR. PAPPO: Does that answer your question?

18 DR. PARSONS: Yes, unless Malcolm had any
19 other comments.

20 DR. SMITH: No, I don't have anything to add
21 to that, Will. Thanks.

22 DR. PARSONS: Great. Thank you.

1 DR. PAPP0: Next is Dr. Reaman.

2 (No response.)

3 DR. PAPP0: Greg, do you have a question?

4 DR. REAMAN: Sorry. I'm unmuted.

5 It's Greg Reaman from the FDA, and I'd like
6 to also extend my thanks and appreciation to both
7 Malcolm and Jim for their presentations. I think
8 the whole CCDI is really an unbelievable
9 opportunity for the childhood cancer research
10 community.

11 In exploring the potential for real-world
12 data that might be able to inform external controls
13 or historical external controls, I'm concerned that
14 the NCTN Data Archive only includes phase 3
15 frontline trials and not other studies, and it's
16 really those other studies of more novel agents,
17 perhaps, that might be useful.

18 Are there any plans to extend the NCTN Data
19 Archive to include phase 1/phase 2 studies? And
20 could you also comment if this is the totality of
21 NCI-supported studies? Some of the other early
22 phases, the Clinical Trial Network, the PBTC, are

1 they all part of this in the childhood cancer data
2 platform? Thanks.

3 DR. DOROSHOW: I'll let Malcolm address the
4 second question. I think the first question is a
5 great one and really a very straightforward answer,
6 because we would do it in a heartbeat if we had the
7 resources.

8 Actually, both the Navigator and the Archive
9 were started with actually no additional resources,
10 and I think that it would be enormously useful to
11 put the phase 2 -- I don't know about the phase 1
12 data, but surely phase 2 --

13 DR. REAMAN: Phase 2.

14 DR. DOROSHOW: -- data would be incredibly
15 useful. But without the resources to assist the
16 groups to do what is a significant activity -- it
17 costs money and people -- it's hard for me to
18 mandate something like that without having the
19 resources to try to make it happen.

20 DR. REAMAN: Okay. Thanks.

21 DR. PAPPO: Does that answer your question,
22 Greg?

1 DR. REAMAN: It does. Thank you.

2 DR. PAPP0: Tobey, you're next.

3 DR. MACDONALD: Hi. It's Tobey MacDonald
4 from Emory University. I guess I suppose this
5 question's for both of the presenters.

6 Seeing that currently we at our single
7 institution do a lot of sequencing action on all
8 the cancer patients that come through, trying to
9 then select a drug for the target remains very
10 challenging. There's usually still a menu, and
11 then cause-and-effect relationship is complex and
12 difficult to assess.

13 So I wonder after all the characterization
14 has been performed and the linkage to clinical
15 data, is there an idea for a future effort to look
16 more on a functional level to establish
17 cause-and-effect resistance mechanisms, high
18 functional screens either from targets or drug
19 screens, that would complement all of this
20 information? That's my question.

21 DR. DOROSHOW: Malcolm, do you want to give
22 it a shot? Or I can; whatever you want.

1 DR. SMITH: Okay.

2 Well, I can say one thing about that.
3 Certainly for Open Targets, there's an interest in
4 having functional data incorporated into it for
5 precisely the reasons you are describing, Tobey.
6 There's a recognition of the importance of the
7 functional screens and finding ways to incorporate
8 them into the Open Targets platform.

9 In terms of other things beyond that, I
10 would defer to Dr. Doroshov in terms of other
11 programs that might extend in the direction that
12 you're asking about.

13 DR. DOROSHOW: The most pertinent is a
14 network that we formed with Moonshot money about
15 four years ago to study drug resistance and
16 functional genomics of drug resistance, and that
17 has been very productive, all focused on adult
18 cancers, just to make it clear, but focused in the
19 way that you elucidate.

20 That has just been renewed, and it will be
21 more focused on resistance rather than on
22 mechanisms of sensitivity, and essentially a

1 hundred percent will focus on functional genomics.
2 And there are more initiatives that are being
3 considered about how to have a broader scope of
4 functional genomic screens that are brought to bear
5 across the board on a variety of diseases.

6 DR. SMITH: Tobey, I could add one more
7 thing. Dr. Doroshov's comments brought to mind the
8 pediatric oncoprotein consortium; again, another
9 Moonshot initiative focusing on the Ewing sarcoma
10 fusion; synovial sarcoma fusion; the
11 [indiscernible]; the alveolar rhabdomyosarcoma
12 fusion; and the fibrolamellar hepatocellular
13 carcinoma fusion. I think that's all of them.

14 The grant, there are nine altogether.
15 Probably most of them would have some genomic
16 component of it. It is something where there's
17 certainly interest in both generating more data and
18 making that data available.

19 DR. MACDONALD: Thank you. That answers my
20 question, and I continue to hope that it gets
21 extended broader to the pediatric cancer. Thanks.

22 DR. PAPPO: Next is Ira.

1 DR. DUNKEL: Hi. Ira Dunkel, Memorial Sloan
2 Kettering. This is a question for Malcolm.

3 Malcolm, you may have already addressed this
4 and I missed it, so excuse me if you did. I'm
5 wondering for the ultra-rare tumors, such as
6 perhaps the TRT and subgroups that you mentioned,
7 where accumulating data and/or tissue is going to
8 be a longer term process, whether this system
9 allows submission of data or tissue from
10 international sites or consortia.

11 DR. SMITH: That's a great question, Ira.
12 We don't have that built into any of the projects
13 that I talked about, but I think if the data are
14 available and there was willingness for submission,
15 there would be interest in including that. If you
16 know of specific things that we can contribute in
17 that area, we'd certainly be glad to set up
18 conversations about it.

19 DR. DUNKEL: Thank you very much.

20 DR. PAPPO: Tobey, is your hand still up or
21 you have another question?

22 DR. MACDONALD: No more questions. Sorry.

1 DR. PAPPO: Okay.

2 Does anybody have any other questions?

3 Otherwise, we can proceed with additional FDA
4 presentations.

5 (No response.)

6 DR. PAPPO: Okay.

7 We will now proceed with an FDA presentation
8 by Dr. Ann McMahon.

9 **FDA Presentation - Ann McMahon**

10 DR. McMAHON: Hello. Good afternoon. The
11 title of my talk is Real-World Evidence to Assess
12 Pediatric Medical Product Safety. I work in the
13 office of Pediatric Therapeutics, in the Office of
14 Clinical Policy and Programs, in the Office of the
15 Commissioner of the FDA. This is my disclaimer,
16 and this is my outline for the brief talk that I'm
17 going to be giving. I will give an overview of
18 pediatric real-world data and evidence with respect
19 to safety, and give some examples.

20 The Pediatric Advisory Committee, or the
21 PAC, was congressionally mandated in 2002. We
22 mention it here to give you a background on how

1 pediatric product safety information comes to light
2 generally at the FDA.

3 It was mandated that 18 months after
4 pediatric labeling changes, FDA will conduct
5 postmarketing safety reviews of those drugs with
6 labeling changes and present the information to the
7 PAC. OPT, my office, works with the Division of
8 Pediatric and Maternal Health and the Office of
9 Surveillance and Epidemiology to establish a more
10 robust pediatric pharmacovigilance strategy. There
11 may be a higher percentage of oncology products
12 going to the PAC with the Research to Accelerate
13 Cures and Equity, or RACE, for Children's Act.

14 With that background, I'll launch into my
15 talk about pediatric RWD and RWE.

16 What are the special considerations in
17 pediatric real-world data or real-world evidence?
18 Data that may be especially important in children
19 are birth date, gestational age, data related to
20 families such as demographics and health status,
21 and records outside the healthcare system such as
22 school performance and school records.

1 Other special considerations in pediatric
2 RWD/RWE are growth and development both in infants
3 and children, measuring both weight and height, and
4 performed by pediatric clinicians. In addition,
5 other examples of important issues in pediatrics
6 include large enough sample size to detect rare
7 adverse events, as a number of people have talked
8 about, and having a denominator that is actually
9 within the database.

10 I want to give you some examples of
11 pediatric-oriented databases in North America.
12 Notice that these databases all used electronic
13 medical records. All had inpatient data in the
14 databases. A few had outpatient data as well, and
15 all allowed calculation of incidence rates within
16 the database. In other words, both numerator and
17 denominator were present within the same database.

18 Notice also that most of the databases have
19 the capacity for longitudinal data analysis,
20 something that is particularly important in
21 pediatrics and in oncology.

22 This slide shows some more attributes of

1 pediatric databases in North America. The biggest
2 sample size is 6 million, so these are relatively
3 small databases. With the exception of the Vermont
4 Oxford Network, the date of earliest data
5 collection was actually rather recent.

6 The following are two examples of studies
7 highlighting pediatric RWD/RWE safety research.
8 This is a use case of pediatric RWD safety. It is
9 the study about the use of octreotide in infants
10 and children. Octreotide is a synthetic peptide
11 analog of naturally occurring somatostatin used off
12 label in children less than 6 years of age for
13 hyperinsulinism.

14 Notice that there is a lack of control data
15 on efficacy or potential adverse events from
16 off-label use. In this study, there were only
17 103 patients, and during the study period,
18 2 patients died, one from necrotizing enterocolitis
19 3 days after octreotide treatment. There were
20 comorbidities in that patient that died of NEC,
21 which included patent ductus arteriosus,
22 respiratory distress, and heart block type 1.

1 There were 11 other serious adverse events in the
2 101 surviving patients.

3 This slide shows clinical information for
4 infants treated with octreotide for
5 hyperinsulinism. You can see that we do not have
6 complete clinical information for every variable.
7 In general, the patients were full term and the
8 octreotide daily dose and duration were quite
9 variable.

10 The next use case is a study of acute kidney
11 injury during treatment with IV acyclovir for
12 suspected or confirmed neonatal herpes simplex
13 virus infection. The objective was to describe the
14 epidemiology of and risk factors associated with
15 acute kidney injury, or AKI, during acyclovir
16 treatment in neonates.

17 This was a multicenter study. There were
18 1,017 infants, with the majority receiving short
19 courses of acyclovir, and in the end, there were
20 4 associated outcomes with acute kidney injury
21 after Cox regression. Confirmed herpes simplex
22 virus disease was one; receipt of at least to

1 concomitant nephrotoxic medications was another;
2 receipt of mechanical ventilation was another; and
3 admission to an intensive care unit was another; so
4 sicker infants and those exposed to nephrotoxic
5 drugs were at high higher risk from acyclovir.

6 A group of us performed a systematic review
7 of pediatric RWE safety and efficacy. The
8 objectives were to describe the state of RWE in
9 pediatrics by identifying observational studies
10 published during 2016.

11 It was a systematic review. A small body of
12 studies, 29, were categorized as using RWD to
13 assess medication safety or effectiveness in
14 children, and the studies varied in age, groups,
15 diseases, conditions, and methods. Most studies
16 relied on data collected at single institutions,
17 and a quarter of these studies did not use well-
18 established statistical methods to control for
19 confounders.

20 Some takeaway points regarding pediatric
21 RWD/RWE from this talk are in working with
22 pediatric RWE/RWE, it can be difficult to adjust

1 for growth and development, and it can be difficult
2 to obtain records outside of the medical record
3 system, such as school records.

4 Confounding cannot always be fully
5 controlled. As an example, in the acyclovir
6 example, the sicker patients were more likely to
7 have AKI, and it can be difficult to unbundle
8 variables associated with, quote, "sicker" unquote,
9 patients in such a study. Samples can be small in
10 pediatric patients with rare diseases, especially
11 if using medication off-label such as octreotide
12 for hyperinsulinism.

13 In conclusion, RWD/RWE in pediatrics may be
14 useful to fill in gaps in pediatric knowledge. RWD
15 may help to identify a more diverse population from
16 a demographic perspective. RWD may enable
17 reflection of product use in the general
18 population, and RWD may open up understanding of
19 the variable nature of underlying illness outside
20 randomized clinical trials. And this slide is for
21 your reference. Thank you.

22 DR. PAPPO: Thank you very much for your

1 presentation, Dr. McMahon.

2 We will move to the next presentation by
3 Dr. Bruce Carleton.

4 DR. CARLETON: Hello? Can everyone hear me
5 alright?

6 DR. PAPPO: Yes.

7 DR. CARLETON: Great.

8 **Guest Speaker Presentation - Bruce Carleton**

9 DR. CARLETON: Thank you very much for the
10 opportunity to speak to the committee today. I'm
11 actually humbled by the opportunity and also
12 excited about all of the work that's being done,
13 both by government agencies and those external to
14 government, to improve child health; and a special
15 thanks to Dr. Pappo and Reaman for the invitation
16 for today, and Dr. Chen and Yvette for helping me
17 cross the technology barriers to be able to present
18 this.

19 I want to talk to you a little bit about
20 what we're working on in Canada. We are a very
21 large country with actually quite a small
22 population, so we relish the opportunity to work

1 with FDA and other agencies to improve child
2 health, particularly in pediatric cancer.

3 The paradox of modern drug development, of
4 course, is that the clinical trial population gives
5 us information about population efficacy and
6 safety, but it is actually the individual patients
7 we treat, which vary widely.

8 In our country, in 2007, our regulator,
9 Health Canada, did an analysis and showed that
10 50 percent of serious adverse events are only
11 uncovered after the drug reaches the market. As
12 such, I'm particularly interested in the three
13 outcomes that are possible with drug therapy,
14 obviously the drug working, the drug not working,
15 or the drug causing harm, and these overlap.

16 This simplistic bell-shaped curve that I've
17 drawn on response could be looked at very
18 simplistically, as the top of the bell would be the
19 average response, the right tail would be those
20 that are super responders, and the left tail would
21 be those who have no response. Perhaps harm can
22 follow the same pathway, no response but serious

1 harm, average response and some harm, and super
2 response and no harm.

3 All of these things overlap, and any outcome
4 may not be sustainable over time. It's the
5 heterogeneous disease experience of children with
6 cancer that makes measurement of benefit-harm over
7 short time periods.

8 In Canada, to address some of these issues,
9 we built a network to look at biomarkers of drug
10 response in children. Most of these patients are
11 children with cancer. Rationale for that is that
12 they actually experience a lot of the most serious
13 adverse effect. Potent pharmacologic agents being
14 used to prolong life can do that.

15 We have the centers across the country, from
16 Victoria actually on Vancouver Island, which is
17 represented and hard to see but on the left-hand
18 side, which is actually larger than England on the
19 scale here, all the way to St. John's Newfoundland.
20 We have pediatric and adult centers. The pediatric
21 centers are funded, so the people that do the data
22 extraction and the clinical characterization, the

1 assessment of temporality between drug and outcome,
2 are all paid by the network itself, which is grant
3 funded by federal and provincial competitive.
4 grants.

5 The recruitment of subjects has been much
6 more robust than we expected, beginning in 2004.
7 The intercept begins at zero because it's too hard
8 to show that now over the course of time. I wanted
9 to say that the curve looks like it's tapering a
10 bit, and it is actually because what we're doing
11 now is not just recruiting new subjects, but
12 actually following longitudinally the subjects that
13 we do have.

14 In some cases, our follow-up times are in
15 decades. That would be an extreme case. But we do
16 have up to 20-plus years of data for some of these
17 patients and their drug outcome experiences.

18 One of the areas that we began thinking
19 about when we started as a pharmacogenetics network
20 was to look at cisplatin based upon the concern
21 about hearing loss, which was more frequent and
22 severe in children. In fact, our own real-world

1 data show that 37 percent of our patients developed
2 CTCAE grade 3 or grade 4 since 2005. Most of these
3 would be grade 3, but because platinumums are heavy
4 metals, one of our key outcomes is to characterize
5 hearing loss over time, since you can detect
6 platinumums in the circulating serum of patients for
7 decades after treatment. Most of the hearing loss
8 that we see occurs within the first 4 to 6 months
9 at the present time, but there is some suspicion of
10 ongoing ototoxicity.

11 So we naturally began this, again, on the
12 early 2000s by looking at patients with a variety
13 of tumor types, something that many on the disease
14 side might bristle a bit about, that we've combined
15 tumor types. But we're interested specifically in
16 ototoxicity from cisplatin. And of course
17 controlling for dose and exposure, we were able to
18 find some interesting data.

19 I want to point out the fact that this
20 design is a bit rare in pediatric cancer. I
21 continue to review papers that have control groups
22 that include grade 1 toxicity. So they aren't

1 really controls if you're trying to find a variant
2 that is related to toxicity, particularly if that
3 variant is shared between patients who have mild
4 toxicity and those that have more significant
5 toxicity. But our design was to eliminate the mild
6 toxicity cases from the analysis because we didn't
7 know if they were just mild at a point in time.

8 In this early -- [inaudible - audio lost].

9 DR. PAPPO: Dr. Carleton, it looks like
10 we've lost you.

11 (No response.)

12 DR. PAPPO: Dr. Chen or Joanna, can we check
13 if he's connected?

14 DR. CHEN: Thank you for your patience.
15 Please hold while we try to get Dr. Carleton back
16 online. I can see he's connecting momentarily.
17 Thank you for your patience.

18 DR. CARLETON: Hello? Can you hear me now
19 again?

20 DR. PAPPO: Yes.

21 DR. CARLETON: Okay. I very much apologize,
22 but technology. Sorry about that.

1 So getting back to this issue, what we
2 identified in our early work within the network
3 were these variants in methyltransferases, which
4 were somewhat perplexing because how are
5 methyltransferase variants related to cisplatin
6 biotransformation? No one knew.

7 You can see that in the early phase, what we
8 were thinking of was postulating mechanisms by
9 which the loss of TPMT function or the loss of COMT
10 function could increase cisplatin toxicity. This
11 is early work where we're identifying biomarkers.
12 They're very strongly associated with toxicity.

13 In this work published in Nature Genetics in
14 2009, we showed that if you increase the number of
15 risk alleles, you increase the severity, the
16 frequency, and the earlier onset of hearing loss,
17 and that the differentiation between patients
18 occurred very quickly in the days post-cisplatin
19 starter therapy. You can see at the intercept that
20 these lines differentiate very early, and I
21 mentioned that earlier. Most of the hearing loss
22 that we see tends to happen about 4 to 6 months

1 after treatment initiation.

2 As a result of some work that I did with the
3 Office of Clinical Pharmacology and independent
4 review of the data that we gave them, raw data, at
5 FDA, there was a label change warning of the risk
6 of genetic variants in 2012. But much of the work
7 has continued since then, and I wanted to show you
8 how we continue to use the real-world data to
9 further explore and move towards treatment and
10 management of this condition because that's really
11 the goal of the network. It's not just to define
12 genetic biomarkers; it's to understand the biology
13 of harm, the biology of non-response, the biology
14 of super response, for example, and then begin to
15 move those ideas into clinical trials.

16 The next step in this process was to explore
17 the impact of these variants on cellular responses
18 to cisplatin to validate markers that we were
19 finding that were highly associated with
20 ototoxicity, and we did this by expressing them in
21 two particular inner ear cell lines from the organ
22 of Corti, murine cell lines, and then monitor the

1 impact by cytotoxicity and also activation of a
2 cisplatin response chain that showed up in some of
3 our early genome-wide work.

4 What we found was that the low-activity
5 variant, star-3A [ph] for example -- and there are
6 some other findings, but I only have a few minutes,
7 so I'll just focus on this particular
8 variant -- this particular low-activity variant,
9 expression of this sensitized cells to cisplatin
10 cytotoxicity and essentially creates a cellular
11 phenotype consistent with higher effective
12 cisplatin concentrations in the inner ear. This
13 suggests that there is a role of TPMT in the
14 cytotoxicity of cisplatin, and particularly its
15 ototoxicity.

16 The next step was to look at TLR4
17 expression, which we knew, through work, was
18 induced by increasing cisplatin concentrations. By
19 showing this TLR4 induction, we began thinking
20 about what this could mean in terms of preventing
21 toxicity.

22 These particular low-activity star-3A

1 expressing cells showed significantly increased
2 TLR4 response to cisplatin compared to the wild
3 type, so that, again, suggests that we've got an
4 interaction here that is particularly important in
5 understanding toxicity from the original real-world
6 evidence that we accumulated across Canada.

7 This work continues to develop, and one of
8 our post docs, who is now a professor of immunology
9 and medical microbiology at the University of
10 Alberta in Edmonton, has continued the work that he
11 started with us years ago.

12 What we're now looking at are a number of
13 other studies that I want to show you; first that
14 if we delete TLR4, we can increase the viability
15 and decrease the apoptosis of cells, even at higher
16 cisplatin concentrations. This was done with two
17 methods, both flow cytometry and propidium iodide
18 staining and Annexin V staining as well.

19 The next thing that is interesting is that
20 when we delete TLR4, we actually decrease the
21 reactive oxygen species generation and
22 proinflammatory cytokine secretions in response to

1 cisplatin. This is important because the
2 methyltransferase variants themselves are very much
3 integrated into homocysteine and reactive oxygen
4 species pathways, which are much more complicated
5 than just a simple metabolic picture of cisplatin
6 itself in terms of its pharmacokinetic
7 biotransformation.

8 What we've now been doing is actually trying
9 to inhibit TLR4 using a small molecule to actually
10 prevent cisplatin ototoxicity in a variety of cell
11 lines. This TAK-242 antagonist that we've recently
12 published binds to the intracellular toll
13 interleukin receptor in the domain of TLR4 and
14 disrupts protein recruitment. This is very
15 exciting news. Some data that we haven't presented
16 today now is looking at whether this disrupts
17 cancer cells cell lines at the same time, and it
18 appears it does not.

19 These early works are moving us towards what
20 we would like to do as a network, which is to move
21 to interventional trials, trying ways to either
22 protect against hearing loss from platinum or

1 designing new agents that avoid these pathways of
2 toxicity.

3 We've also targeted five other critical
4 pediatric oncology adverse drug reactions for work.
5 I've shown you the number of patients here. We're
6 very proud of what the network's been able to do;
7 not just do we have solid numbers across Canada
8 using all of our 16 oncology treatment centers, but
9 we actually have a very high level of scrutiny and
10 data for each of these cases.

11 I could tell you, for example, how many
12 patients per center are included; how many patients
13 were removed; why they were removed; how many
14 genotyping failures have occurred; and why the
15 failure occurred; for example, if they failed
16 identity by descent analysis, which could indicate
17 poor DNA quality, and if there's mismatched
18 reported and imputed sex, for example.

19 We occasionally run into samples who are
20 cousins or have a degree of relatedness to each
21 other that we're not comfortable with in the
22 analysis. We have an internal validation process.

1 All of the clinical data come through and are
2 collected at individual sites, and are re-reviewed
3 by an expert panel. So we sometimes remove cases
4 that were originally thought to be, for example,
5 pancreatitis and later turned out not to be.

6 So that's something that's been really,
7 really important to our work, is to ensure that we
8 have quality data and very deep phenotyping data
9 about patients. Because this is an active
10 surveillance network, we are not limited by just
11 the data that we've collected. We can actually go
12 back into the records and collect more data if
13 needed, and sometimes that's necessary because the
14 case definitions for these reactions advance over
15 time.

16 Lastly, I wanted to mention that it's been
17 my goal all along, since the early 2000s, to make
18 these data globally accessible in a database that
19 is both clinical and genomic, and all of the data
20 would be there to facilitate new discoveries
21 worldwide to replicate work that's been done
22 elsewhere, to create new analytical methods, and

1 begin to further improve the safety of drugs in
2 pediatric oncology.

3 I think it's important to note here that
4 reproducibility is important, but I think it is
5 less important than it is often cited to be. To
6 quote I guess from the National Academies' recent
7 editorial on this issue when they were looking at
8 climate change, they mentioned in their report that
9 "reproducibility" -- this is the PNAS editorial --
10 "is neither a necessary nor sufficient condition to
11 ensure the validity of conclusions drawn from
12 research because a study may be inherently flawed
13 and inappropriate for supporting a conclusion, and
14 yet could be fully reproducible."

15 So certainly reproducibility and
16 replicability play an important role in achieving
17 rigor and transparency. And for some other lines
18 of inquiry, replication is one way to gain
19 confidence in scientific knowledge, but for other
20 lines of inquiry, direct replications may be
21 impossible because of the characteristics of the
22 phenomena being studied.

1 That's one of the issues that we run into in
2 pediatric oncology with different centers treating
3 patients actually quite differently in many cases,
4 and we've run into this before; that it can be very
5 difficult across centers to find exactly the same
6 findings for the same patients.

7 I think that I'll conclude by saying that I
8 agree with the National Academies that "the
9 robustness of science is less well represented by
10 the replications between two individual studies, or
11 reproduction of one or more studies, than by a more
12 holistic web of knowledge reinforced through
13 multiple lines of examination and inquiry," and
14 that's what we're doing with our real-world data in
15 Canada with these cell studies, and soon clinical
16 trials. Thank you very much for your attention.

17 DR. PAPPO: Thank you very much,
18 Dr. Carleton.

19 We will continue to our next speaker, who is
20 Dr. Doug Hawkins.

21 **Guest Speaker Presentation - Douglas Hawkins**

22 DR. HAWKINS: Thank you. It looks like my

1 slides have come up. I want to thank again the
2 organizers for putting together this session. This
3 has been very informative.

4 I'm going to provide some of the Children's
5 Oncology Group's perspective on some of the uses
6 and potential limitations of clinical trial data
7 for the purposes of an external control. I'll also
8 talk a little bit about some of our biology and
9 registry studies, and then end by discussions of
10 the COG registry, Project Every Child.

11 Many of you know a lot about COG, but just
12 to make sure, COG was formed in 2000 as the merger
13 of four legacy pediatric oncology groups. It's the
14 one NCI-funded NCTN member that is devoted to
15 pediatric cancer. There are four others in the
16 United States devoted to adult cancers. There are
17 over 200 institutions worldwide with most in the
18 United States, but we have members in other
19 countries, including Canada, Australia, and New
20 Zealand.

21 We have conducted, since the inception of
22 COG, over 300 clinical trials that included IND

1 agents, and we estimate that approximately
2 90 percent of children with cancer are seen at COG
3 institutions, although this probably needs to be
4 revisited.

5 This gives you an idea of the geographic
6 reach of the COG centers within the Continental
7 United States, and then to also look at this on the
8 global level showing the member institutions in
9 Canada, Australia, New Zealand, and one in Saudi
10 Arabia. So this really is a geographically very
11 diverse group.

12 I think in contrast to many cooperative
13 groups that serve adult indications, we have a
14 fairly good penetration or enrollment of children
15 on clinical trials. This is a more recent analysis
16 from 2004 to 2015, looking at enrollment on
17 frontline COG studies using SEER data as the
18 benchmark. You can see that in the youngest of
19 patients, nearly 30 percent enroll on a frontline
20 COG study, although this percentage drops off
21 dramatically in the teen years, and then in young
22 adulthood, but this involvement is variable across

1 histologies.

2 For the most common malignancies, including
3 ALL and lymphoblastic lymphoma, in this era, nearly
4 60 percent of children in the United States
5 enrolled on a COG frontline study compared to the
6 SEER data. This dropped off dramatically across
7 different histologies to a low of 3 percent.

8 Again, this is just looking at the 0 to 9 age
9 group, but similar data was seen in 10 to 19 years.

10 How this is achieved, I think it's really
11 important to understand that COG is not a group of
12 large elite institutions. The ability to enroll to
13 COG studies is really the aggregate activity of
14 hundreds of institutions.

15 This is looking at data. Each bar here is
16 an individual institution, and they're given an
17 inverse size. So these are the smallest
18 institutions or the lowest accruing, and these are
19 the highest accruing institutions over a three-year
20 period on our frontline phase 3 and pilot studies.
21 You can see that half of all the enrollments on COG
22 studies are contributed by 46 of the largest

1 institutions. But in order to get the other half
2 of our enrollments, it requires the aggregate
3 activity of over a hundred institutions, small and
4 medium-sized institutions, across the network.

5 So in other words, the enrollments on COG
6 really require all institutions, both, small,
7 medium, and large, and I think that's what gives us
8 some of our geographic diversity.

9 Now I'm going to talk a little bit about
10 some of the potential uses and limitations of our
11 historical data from clinical trials for the
12 purposes of an external control. To illustrate
13 this, I'm going to take an example, which I think
14 is one of the more extreme examples, of how it is
15 really essential to make sure you control for a key
16 biologic feature.

17 This is an older publication looking at
18 infants with metastatic neuroblastoma. It's a
19 fairly large cohort of patients. It looks like we
20 have a fairly stable event-free survival curve.
21 And if that's all you knew, you might be able to
22 use this as your external control.

1 But there is one biologic feature that when
2 added to this analysis showed dramatically
3 different outcomes, and this may be one of the most
4 dramatic Kaplan-Meier curves ever published,
5 looking at the outcome for children who had MYCN
6 non-amplified neuroblastoma versus those that had
7 MYCN amplified neuroblastoma. And if one did not
8 control for this one biologic feature, and one did
9 not have these data reliably, it would be very hard
10 to use the data set for the aggregate population as
11 your external control. I just use this as a point
12 of illustration of how essential some features are
13 for control.

14 Also staying with the theme of
15 neuroblastoma, therapy changes over time. This is
16 a recent publication from the Children's Oncology
17 Group, comparing children with high-risk
18 neuroblastoma who either received two transplants
19 versus one transplant. We see that therapies
20 evolve over time and outcome evolves over time. So
21 a historic control that might use patients who
22 received a single transplant may not be relevant to

1 the therapy that's given currently, where tandem
2 transplant has been adopted as a standard within
3 the Children's Oncology Group.

4 There are other limitations to using COG
5 data, and I'll go through them quickly. In some
6 cases, the molecular marker of interest, the ones
7 that you might want to control for, may not be
8 present in all of our historic data sets. And
9 again, staying with the neuroblastoma example, we
10 know that ALK alterations are a major area of
11 focus, and yet we don't have data on the ALK status
12 in all of our patients, including our historic data
13 sets.

14 COG has relatively limited late toxicity
15 data, especially beyond 10 years from enrollment.
16 Our data, when we collect them, are not collected
17 necessarily with the idea of a regulatory filing,
18 so when we're asked to provide historical data, it
19 may not fit the need for a regulatory filing
20 without a great deal of work.

21 I think in most cases, people are looking
22 for outcome after recurrence. That's where you're

1 really trying to get a good historical control
2 group. Within the Children's Oncology Group, we
3 put very little effort into characterizing what
4 happens to patients other than life status after
5 recurrence, so how patients are treated and what
6 other events they may have after an event is not
7 well characterized other than life status.

8 I'm now going to talk a little bit about our
9 biology studies and how these might be used as a
10 source of non-clinical trial data, and I'll
11 illustrate, again with an example of neuroblastoma,
12 a biology study that's been open in the Children's
13 Oncology Group for over 20 years.

14 Over 11,000 children have enrolled in this
15 neuroblastoma biology study. It was used for the
16 purposes of biobanking, central pathology review,
17 as well as centralized tumor testing. Enrollment
18 on this biology study was required prior to
19 enrollment on our clinical trials, and this biology
20 study captures the vast majority of children with
21 neuroblastoma in North America. We estimate 70 to
22 80 percent of all cases in neuroblastoma and North

1 America are participants in this biology study. So
2 this may be a real-world data set since it has such
3 a large proportion of the total population.

4 This biology study comes with clinical
5 annotation, including outcome data. There's a
6 manuscript under review, where outcomes comparing
7 those enrolled on the biology only were those who
8 enrolled on biology plus clinical trials and showed
9 that the overall survivals were relatively similar.
10 So the biology study outcomes could be used as an
11 internal standard, I think.

12 Another example of a biology study within
13 COG is the renal tumor study. This was started in
14 2006. There are over 6,000 patients enrolled. It
15 had a similar purpose of biobanking, central
16 pathology, but also imaging review and centralized
17 tumor testing. It was also required for enrollment
18 on clinical trials.

19 Within the United States, we estimate that
20 90 percent of children with renal tumors, based on
21 SEER data, have also enrolled on this renal tumor
22 biology study, so it reaches a near population

1 sample, at least for the United States, and we have
2 clinical annotation and outcome data.

3 We've done some preliminary analyses of
4 limited subsets, looking at the lower stage
5 patients, and the outcome for patients enrolled
6 only on the biology study appears to be very
7 similar to the clinical trial data. I think this
8 reflects this uniformity of therapy used, at least
9 within certain cohorts. So again, this could be
10 used as an external control, at least for the
11 purposes of these limited sets.

12 I'm now going to talk a little bit about
13 Project Every Child. Dr. Smith covered this in
14 some detail. This is a project that was started in
15 2015 within the Children's Oncology Group as a
16 mechanism both of biobanking, of tumor and
17 germline, and a way to facilitate epidemiology
18 research by getting permission for future contact
19 to collect a limited amount of clinical annotation
20 with outcome data.

21 Since its opening, over 24,000 children have
22 enrolled. We estimate, again based on SEER data,

1 that 45 percent of children diagnosed with cancer
2 between birth and 14 years of age are enrolled on
3 Project Every Child, and we have clinical
4 annotation with outcome data, although the outcome
5 data for Project Every Child has not been mined
6 like it has been in the cases of neuroblastoma and
7 renal tumors, as I illustrated.

8 This also has broad participation across the
9 COG network, and this is a similar diagram that I
10 showed you for clinical trial enrollments, now just
11 showing it for Project Every Child. Again, the
12 largest institutions, 52 contribute to half of all
13 the enrollments on Project Every Child, but the
14 remaining 50 percent of the patients come from
15 170-plus institutions, small and medium-sized,
16 across the COG network. So there's broad
17 participation across multiple institutions in
18 Project Every Child.

19 Dr. Smith outlined in great detail, and I
20 will not reiterate, how we're planning to leverage
21 Project Every Child as a mechanism for consent;
22 tissue collection that will then be analyzed

1 through a central battery of molecular
2 characterization with a rapid return of results
3 back to the treating institution for the purposes
4 of refining diagnosis; identifying potential
5 targets; identifying prognostic factors; as well as
6 banking of residual material that can be used for
7 other research discovery.

8 I think this is a great opportunity to the
9 Molecular Characterization Project to leverage
10 Project Every Child and some of the data it
11 collects, as well as use it to return results to
12 patients.

13 If I can conclude, I think the historical
14 data that are available through COG clinical trials
15 could potentially serve as an external control, but
16 we have to remember careful caveats, including
17 incomplete molecular annotation, particularly for
18 variables of interest; making sure that the therapy
19 that is delivered is comparable and that they
20 haven't been changed over time; and recognizing
21 that these data were not collected for the purpose
22 of regulatory filing and might need significant

1 work before they're used for that purpose.

2 We have several large biology studies, and
3 at least our preliminary analysis suggests that the
4 patients who enroll in our biology studies have an
5 outcome similar to those who have enrolled on
6 clinical trials, and these could serve as an
7 external control also.

8 Project Every Child I think had some
9 potential that can be further mined quickly once we
10 integrate some of the molecular characterization
11 that will come forward with the Molecular
12 Characterization Project. So thank you very much.

13 **Clarifying Questions**

14 DR. PAPPO: Thank you very much,
15 Dr. Hawkins.

16 We will now take clarifying questions for
17 FDA and guest speakers. Please use the raised-hand
18 icon to indicate that you have a question, and
19 remember to clear the icon after you have asked
20 your question.

21 When acknowledged, please remember to state
22 your name for the record before you speak and

1 direct your question to a specific presenter, if
2 you can. If you wish for a specific slide to be
3 displayed, please let us know the slide number, if
4 possible.

5 Finally, it would be helpful to acknowledge
6 the end of your question with a thank you and end
7 of your follow-up question with, "That is all for
8 my questions" so that we can move on to the next
9 panel member.

10 We will now open the session for questions.
11 Dr. Seibel, you're first.

12 DR. SEIBEL: Thank you, Alberto.

13 My question is for Doug Hawkins, and that is
14 you commented on the biology studies as well as
15 Project Every Child. Can you tell us a bit more or
16 provide more details about the follow-up for these
17 patients; how granular this is?

18 DR. HAWKINS: Yes. I think for
19 neuroblastoma, I believe the follow-up is similar.
20 I've not seen the publication yet ready for Wilms'
21 tumor to look at comparability of follow-up. For
22 Project Every Child, I think this is an opportunity

1 for us to explore more. We simply have not looked
2 in detail at the length of follow-up, and it is
3 also more modern. The renal tumor in the
4 neuroblastoma studies have been open for over
5 15 years now, whereas Project Every Child is only
6 five since it first opened.

7 In terms of granularity of data, I think
8 it's variable by diagnosis. In some cases in
9 Project Every Child, there was moderately specific
10 information and exposure information like
11 treatments with different specific regimens or
12 staging information. In other cases, the clinical
13 annotation is more rudimentary, so I think it will
14 vary by diagnosis.

15 I think what I look at as one of the goals
16 is we need to look deeply into some specific
17 diagnoses and see -- just like it's been done for
18 neuroblastoma and Wilms -- how well do the data
19 compare to contemporary clinical trial data.

20 DR. SEIBEL: Doug, for a patient who is on
21 Project Every Child, who didn't enroll on a
22 clinical study, does COG reach out to the

1 institution once a year to find out the status of
2 that patient?

3 DR. HAWKINS: Yes. That's annual reporting
4 of life status, including events, and life status
5 is a requirement. It's part of our data currency.
6 So that is an annual data currency expectation, and
7 it's reporting life status and any events.

8 DR. SEIBEL: Okay. Thank you.

9 DR. HAWKINS: Sure.

10 DR. PAPPO: Donna, you're next.

11 MS. LUDWINSKI: Thank you.

12 Donna Ludwinski. This question is for
13 Dr. Hawkins. Thank you very much for your
14 presentation. I was curious. When you mentioned
15 certain data elements that are missing in the
16 biology study, particularly the neuroblastoma
17 biology study, how feasible would it be to go back
18 and reanalyze tissue, presuming there's tissue
19 available, for those missing markers? You
20 mentioned ALK, but also ATRX is a newer gene.
21 Thank you.

22 DR. HAWKINS: Yes. Thanks. Thanks for your

1 question. Almost anything is feasible with
2 adequate funding. I think one of the advantages of
3 the biology study is that enrollment includes
4 submission of tissue, therefore there should be
5 some element of residual tissue in the bank.

6 Assuming we have an assay that can be
7 applied to the tissue that's available, assuming
8 there is residual tissue, and probably most
9 importantly, assuming there is funding available to
10 do that, I think it certainly is possible.

11 That won't help you if you're going to the
12 biology study to look for the external control
13 where you need existing data, but it might help us
14 to improve the usability of the data from those
15 biology studies as external controls if we've
16 applied the appropriate biologic screening to
17 molecularly annotate those samples.

18 MS. LUDWINSKI: Thank you very much.

19 DR. PAPPO: Ted?

20 DR. LAETSCH: My question is also for
21 Dr. Hawkins.

22 Doug, I noticed that the enrollment on

1 Project Every Child varies based on diagnosis, and
2 you highlighted neuroblastoma and Wilms' tumor
3 where there is central pathology review offered to
4 patients and sites.

5 I wonder if you could comment about the
6 resources required to capture this same sort of
7 granular data for the majority of the population in
8 other tumors.

9 DR. HAWKINS: Yes. I just want to clarify,
10 the data I showed about enrollment by histology was
11 on clinical trials, and it was broken down into
12 ALL, brain tumors, neuroblastoma, et cetera. But I
13 think I could probably show similar data for
14 Project Every Child also, that the percentage of
15 enrollment probably varies from histology to
16 histology.

17 Early on, ALL for instance, and still now
18 neuroblastoma and renal tumors are excluded from
19 Project Every Child since originally there was a
20 separate ALL biology classification study. Now
21 that ALL is included in Project Every Child and we
22 have an upfront study which starts with submission

1 of tissue through Project Every Child as part of
2 the review for ALL clinical trial eligibility, I
3 suspect the enrollment for ALL has gone
4 dramatically up, the percentage of enrollment.

5 I think for other diseases where there's no
6 linkage to a clinical trial, the enrollments can be
7 much lower, and I think rare tumors are a great
8 example, where if there's not a clinical trial to
9 which eligibility for the clinical trial is tied to
10 the enrollment, the percentages can be lower.

11 We clearly have seen that on the
12 neuroblastoma if you look at the percentage of
13 children in North America enrolled on the
14 neuroblastoma biology study. The same thing for
15 renal tumors; when there's a link between clinical
16 trial eligibility and enrollment on the biology
17 study, enrollment in the biology study goes up
18 dramatically.

19 DR. LAETSCH: Thank you.

20 DR. PAPPO: Does that answer your question,
21 Ted?

22 DR. LAETSCH: Yes. Thank you very much.

1 DR. HAWKINS: Maybe I could add one other
2 comment. I think the Molecular Characterization
3 Project may totally change this dynamic because if
4 there is the prospect of receiving a comprehensive
5 molecular test, battery of tests -- DNA, RNA,
6 methylation -- in a relatively rapid time period, I
7 think there will be a powerful incentive to enroll
8 on Project Every Child, particularly for the
9 histologies included.

10 As Dr. Smith mentioned, CNS tumors
11 soft-tissue sarcomas, and selected rare tumors, I
12 think we're going to see a dramatic increase with
13 that rapid return of results back to institutions
14 and patients.

15 DR. PAPPO: Thank you.

16 Julia. You're next.

17 DR. GLADE BENDER: Thank you for these very
18 interesting presentations. I may be asking a very
19 naïve question, but Doug just mentioned again that
20 external controls require previous, existing data.
21 I just want to clarify that that is so; that there
22 is no way to -- because there was an idea of a

1 hybrid data set with a concurrent group, and the
2 question is whether, as Donna Ludwinski mentioned,
3 certain things could be backfilled.

4 Then the other question is, is there any
5 role in any of this for parents of potentially
6 children who have died to donate tissue to help
7 build databases, particularly harkening back to
8 Malcolm's question of the rare tumors and the
9 molecular profiling?

10 DR. HAWKINS: This is Doug Hawkins. I'm not
11 sure that the question's entirely directed towards
12 me, but maybe I could just address the last item
13 that you raised, which is the potential to donate
14 tissue for children who have died.

15 One of the administrative supplements that
16 COG received related to STAR funding was to support
17 tissue collection at the time of autopsy and as a
18 strategy to try to increase the amount of tissue
19 available for children for whom our therapy has
20 failed. We are in the process of rolling that out
21 to try to increase that submission, and I think
22 having that tissue available would be hugely

1 beneficial for our biology purposes.

2 DR. PAPPO: Thank you, Doug.

3 Julia, does that answer your question?

4 DR. GLADE BENDER: Yes.

5 DR. PAPPO: Okay, and we have a final
6 question for Dr. Reaman.

7 Dr. Reaman?

8 DR. REAMAN: Greg Reaman from the FDA. I
9 was going to partially respond to Julia's question,
10 and then ask Doug a question.

11 I think backfilling missing data is clearly
12 one of the things that, from a regulatory
13 perspective, we would be concerned about in the use
14 of historical controls, where our concern is really
15 eliminating any intentional or unintentional bias.
16 Do you bias a historical control by only
17 backfilling with patients who have tissue available
18 so that you can come up with the control group
19 that you want? So, in general, it would not be
20 something that we would recommend.

21 Then for Doug, can you just clarify with
22 Project Every Child, other than an annual

1 requirement to report life status, is there any
2 mechanism for reporting detailed information on
3 treatment, continued treatment, for patients who
4 haven't been enrolled on a COG study, and if a
5 relapse has occurred, what the new therapy is? How
6 granular is the treatment intervention?

7 DR. HAWKINS: Thank you, Greg, for that
8 question. I will say that we have not looked at
9 the data sufficiently to answer that across all
10 tumor types. I have looked within a couple of
11 tumor types, and the data are pretty granular in
12 terms of treatment exposures, initially.

13 I'll take the example of soft-tissue
14 sarcomas. We ask people to declare did you use one
15 of the following 10 common regimens, and if not,
16 you could multi-select different drugs to put
17 together a regimen.

18 So I think for many diseases, outside the
19 context of a clinical trial, there's relatively
20 granular data. But once an event happens, it's
21 been our strategy not to collect more information
22 other than life status after that event. And I

1 think that's a fundamental -- that's true for
2 clinical trials and it's true for Project Every
3 Child. Once an event happens, we simply track
4 people for life status, not for subsequent therapy.

5 DR. PAPPO: Thank you, Doug.

6 Does that answer your question, Greg?

7 DR. REAMAN: Yes, it does.

8 Thanks, Doug.

9 DR. PAPPO: Okay.

10 We will now take a 15-minute break. Panel
11 members, please remember that there should be no
12 chatting or discussion of the meeting topic with
13 anyone during the break, and we will resume at
14 3:30. Thank you very much.

15 (Whereupon, at 3:12 p.m., a recess was
16 taken.)

17 **Open Public Hearing**

18 DR. PAPPO: Okay. We are back, and we will
19 now begin the open public hearing session.

20 Both the FDA and the public believe in a
21 transparent process for information gathering and
22 decision making. To ensure such transparency at

1 the open public hearing session of the advisory
2 committee meeting, FDA believes that it is
3 important to understand the context of an
4 individual's presentation.

5 For this reason, the FDA encourages you, the
6 open public hearing speaker, at the beginning of
7 your written and oral statement to advise the
8 committee of any financial relationship that you
9 may have with the sponsor, its product, and if
10 known, its direct competitors.

11 For example, this financial information may
12 include a sponsor's payment of your travel,
13 lodging, or other expenses in connection with your
14 participation in this meeting. Likewise, the FDA
15 encourages you at the beginning of your
16 statement -- [inaudible - audio lost] -- financial
17 relationships at the beginning of your statement,
18 it will not preclude you from speaking.

19 The FDA and this committee place great
20 importance in the open public hearing process. The
21 insights and comments provided can help the agency
22 and this committee in their consideration of the

1 issues before them.

2 (Pause.)

3 DR. PAPP0: Can you hear me?

4 DR. CHEN: Yes.

5 DR. PAPP0: Were you hearing everything I
6 was saying about the open public hearing or was I
7 just talking to myself?

8 DR. CHEN: I heard you.

9 MALE VOICE: We heard you.

10 DR. PAPP0: Okay, good. So we'll continue.

11 The insights and comments provided can help
12 the agency and this committee in their
13 consideration of the issues before them.

14 That said, in many instances and for many
15 topics, there will be a variety of opinions. One
16 of our goals for today is for this open public
17 hearing to be conducted in a fair and open way
18 where every participant is listened to carefully
19 and treated with dignity, courtesy, and respect.
20 Therefore, please speak only when recognized by the
21 chairperson, and thank you for your cooperation.

22 Speaker number 1, your audio is connected

1 now. Will speaker number 1 begin and introduce
2 yourself? Please state your name and any
3 organization you are representing for the record.

4 DR. ZUCKERMAN: Thank you very much. Can
5 you hear me?

6 DR. PAPPO: Yes.

7 DR. ZUCKERMAN: Thank you.

8 I'm Dr. Diana Zuckerman. I'm president of
9 the National Center for Health Research. Thanks so
10 much for the opportunity to speak today. Our
11 center is a nonprofit think tank that scrutinizes
12 the safety and effectiveness of medical products,
13 and we don't accept funding from companies that
14 make those products.

15 My perspective is as a scientist trained in
16 epidemiology and public health, and as a former
17 faculty member and researcher at Vassar, Yale, and
18 Harvard, and I've also worked at the Department of
19 Health and Human Services, the U.S. Congress, and
20 the White House, and I'm on the board of the
21 Alliance for a Stronger FDA, which lobbies for more
22 appropriations for the FDA.

1 I really appreciated this meeting. There's
2 been so much very important information, and I just
3 want to comment on a couple of things that were
4 brought up.

5 The first one I want to talk about is this
6 idea of focusing on real-world evidence when
7 randomized-controlled trials are not possible, or
8 either not possible, or not ethical, or both. And
9 I think that's something we could all agree on, but
10 in my experience, what is possible and what is
11 ethical is in the eye of the beholder, and that's
12 where things get very complicated.

13 I have seen instances where a company said
14 they felt it would not be ethical to have a
15 randomized-controlled trial or any kind of control
16 group because they were so sure that their product
17 was probably going to be effective and probably
18 going to be safe. And while we understand that,
19 and we understand, especially with studies
20 involving children, the desire to give these kids
21 any opportunity to get well, I do think that the
22 ethical thing to do is to study it carefully, as

1 carefully as possible, before we start selling it
2 to a larger number of patients, whether those
3 patients are children or adults.

4 I also wanted to mention that the
5 shortcomings of historical controls, which were
6 described previously by some excellent
7 speakers -- but just to say that one of the things
8 to look at in historical controls, in addition to
9 the fact that treatment protocols may have changed
10 considerably, even after a few years, is how often
11 historical controls are not as diverse in terms of
12 race, ethnicity, and other issues as we try to make
13 sure they are today. And that can also have quite
14 an impact on their adequacy as a control group.

15 Then the last thing I want to mention is the
16 prespecified statistical analysis plan that was
17 brought up and how important that is for real-world
18 evidence. I agree completely. Again, my concern
19 is how often this doesn't happen in the real world
20 of FDA reviews.

21 Even just recently when the FDA had a public
22 meeting on cancer drugs that had been approved

1 through accelerated approval mechanisms, where
2 there were prespecified statistical analysis plans
3 for the confirmatory studies, the studies did not
4 reach those prespecified criteria. And yet, the
5 feeling was, well, there was a trend, and it was a
6 non-significant trend.

7 But that desire to hope and the wishful
8 thinking that this product would work makes it very
9 difficult to stick with those prespecified
10 statistical analyses plans even though the power
11 calculation should have already been done. The
12 companies had agreed to them, and yet there's
13 tremendous pressure not just from the sponsor, but
14 also often from clinicians and of course patients
15 on advisory committees to disregard the fact that
16 those prespecified statistical analysis plans were
17 not followed, or they were followed, and the
18 results are not adequate to confirm that the
19 product had benefits outweighing the risks.

20 So again, I want to thank you for this
21 meeting. I think it's been very informative, and
22 the speakers have been excellent. And I just

1 wanted to add my support for those points. Thank
2 you very much.

3 **Clarifying Questions (continued)**

4 DR. PAPPO: Thank you very much for your
5 presentation.

6 The open public hearing portion of this
7 meeting has now concluded, and we will no longer
8 take comments from the audience. I will now go
9 back and allow about 10 minutes for remaining
10 clarifying questions for all presenters.

11 Please use the raised-hand icon to indicate
12 that you have a question, and remember to put your
13 hand down after you have asked your question.
14 Please remember to state your name for the record
15 before you speak and direct your question to a
16 specific presenter, if you can.

17 If you wish for a specific slide to be
18 displayed, please let us know the slide number if
19 possible. And as a gentle reminder, it would be
20 helpful to acknowledge the end of your question
21 with a thank you and end of your follow-up question
22 with, "That is all for my questions" so that we can

1 move on to the next panel member.

2 So now we're open for questions for all of
3 the speakers. I'll let you gather your thoughts
4 for a couple more minutes, and if not, we'll move
5 on to the questions to the committee and panel
6 discussions.

7 Dr. Seibel?

8 DR. SEIBEL: Yes. I have a question, and
9 probably this could go to any of the speakers
10 during the first session. In the FDA briefing
11 document, it refers to "the potential for use of
12 well-characterized and appropriately standardized
13 data to create sufficient evidence is a particular
14 interest to pediatric cancer drug development in
15 light of the rarity of cancer, in general, and the
16 specific types of cancer, and in molecularly
17 phenotype subtypes of cancer that occur in
18 children."

19 My question is, has there been any
20 discussions, and particularly with the NCI, about
21 what are the standardized data that should be
22 collected, particularly as we move ahead in the

1 Childhood Cancer Data Initiative?

2 Malcolm mentioned the supplements that have
3 been given to cancer centers for some of those
4 samples and results already, but to see if there is
5 some type of standardized data collection so we
6 will have what we need for the future.

7 DR. PAPPO: Any of the presenters would like
8 to tackle that question?

9 DR. REAMAN: This is Greg Reaman.

10 Nita, maybe I can try and address that. The
11 standardized data that I think was referred to was
12 standardized clinical data, outcome data that might
13 be used in the process of the construction of an
14 external control. It was not really intended to
15 specifically be related to genomic data that is
16 either in the process of being collected or to be
17 collected, but it was more related to how clinical
18 data was collected, managed, submitted, and
19 analyzed. So that was the reason for the use of
20 the term "standardized."

21 DR. SEIBEL: But going forward, I do think
22 it would be helpful to have -- if we're providing

1 supplements to get additional data on genomic
2 characterization patients from cancer centers, that
3 doesn't need to be just limited to the genomic
4 data; it could include other data as well. So it
5 would be important to have some idea of what would
6 be basics, or minimum, that we should be asking
7 for.

8 DR. REAMAN: I couldn't agree more. We
9 haven't had those discussions, but I think
10 recognizing the perceived high bar, if you will,
11 for external controls and their use in regulatory
12 decision making, I think having those discussions
13 and providing some input as to the specific data,
14 the quality of the data, the integrity of the data,
15 how the data were managed, submitted, analyzed, I
16 think would be appropriate going forward. Sure.

17 DR. SEIBEL: Thank you.

18 DR. RIVERA: Dr. Reaman, is it ok if I add?

19 DR. REAMAN: Yes, of course.

20 DR. PAPPO: Yes, please.

21 DR. RIVERA: Hi. This is Dr. Rivera.

22 That's a really great question, and I think

1 highlights a salient point in the totality of the
2 real-world data community, and that is the impact
3 of standardization on the use of this data.

4 I think a lot of the potential for the use
5 of real-world data is in the ability of us to be
6 able to standardize, to characterize, and truly
7 understand what the data represent, the validity of
8 the endpoints, and then, of course, the
9 interpretation of that data as fit for purpose, for
10 example, in regulatory use.

11 Within the OCE, the Oncology Center of
12 Excellence real-world evidence program, we are
13 working on a lot of standardization type efforts,
14 including the characterization of data quality, and
15 specifically work on minimum data elements and
16 endpoints.

17 So I think it is a great idea for us to
18 think about how we can synergize with our federal
19 colleagues as well in creating an ecosystem that
20 can promote and include these conversations so that
21 we can increase the ability for these much needed
22 therapies for pediatric patients.

1 DR. SEIBEL: Thank you.

2 DR. PAPPO: Thank you very much.

3 Greg, did you have a question or it was just
4 to answer Nita's query?

5 (No response.)

6 DR. PAPPO: Okay.

7 **Questions to the Committee and Discussion**

8 DR. PAPPO: Well, the committee will now
9 turn its attention to address the task at hand, the
10 careful consideration of the data before the
11 committee, as well as the public comments. We will
12 proceed with questions to the committee and panel
13 discussions. I would like to remind public
14 observers that while this meeting is open for
15 public observation, public attendees may not
16 participate, except at the specific request of the
17 panel.

18 We will now proceed with question number 1.
19 Question number 1 is, consider the potential of
20 existing and future real-world data resources that
21 may provide real-world evidence to support
22 pediatric cancer drug development programs.

1 Consider potential uses to inform regulatory
2 decision making, and consider specific pediatric
3 cancer drug development programs that may benefit.

4 If there are no questions or comments
5 concerning the wording of the question, we will now
6 open the questions for discussion.

7 Steve?

8 DR. DUBOIS: Steve DuBois, Dana-Farber,
9 Boston Children's. I think one area that this
10 highlights is certainly the rare molecularly
11 defined subgroup of a rare pediatric cancer. And
12 having a well-characterized cohort of patients
13 already identified with a specific molecular
14 alteration where their clinical outcomes are well
15 described would be incredibly helpful because
16 that's obviously a classy example where
17 randomization becomes very challenging.

18 So I think in our field, that I think is
19 going to be an important contribution of these
20 initiatives to develop more real-world data.

21 DR. PAPP0: I couldn't agree more. And
22 perhaps some of the resources that are currently

1 being implemented for infrastructure like the CCDI
2 will help facilitate the gathering of data for
3 these very rare subgroups.

4 Dr. Janeway?

5 DR. JANEWAY: Yes. Katie Janeway,
6 Dana-Farber, Boston Children's. I hope this is a
7 well-formed comment. I'm sort of thinking about it
8 as I'm speaking.

9 I think that for some of our rare unmet
10 medical need cancers -- and obviously those of you
11 who know me know that I'm thinking about
12 osteosarcoma as I'm saying this -- some of those
13 cancers are sort of stuck in a catch-22 in terms of
14 what are limitations on our ability to create those
15 high-quality data sets out of our patients who
16 enroll in clinical trials.

17 So what do I mean by that? If we're
18 looking, for example, at designing a new clinical
19 trial for newly diagnosed osteosarcoma, just to
20 take the example, we have limited information
21 currently about genomic subtypes that predict
22 response or resistance to treatment. And because

1 of that, we don't have biomarkers that we can
2 justify performing on a clinical trial, therefore
3 we miss the opportunity to require sample
4 submission on the clinical trial, and it's hard for
5 us to identify funding to support those efforts.

6 So I guess what my comment is, one of the
7 challenges in a cancer type like that is obtaining
8 the resources, both the samples and also the
9 funding, to support the endeavor to generate the
10 types of data sets that are going to be useful in
11 the future.

12 DR. PAPP0: Thank you very much.

13 Dr. Laetsch?

14 DR. LAETSCH: Hi. Ted Laetsch. I agree
15 completely with Dr. DuBois and Dr. Janeway. My
16 additional comment was that I think Dr. Hawkins
17 highlighted nicely how COG may have some resources
18 to provide real-world data for newly diagnosed
19 patients.

20 I think a number of drugs that are in
21 development, most of them are initially at least
22 studied in the relapse or refractory setting. So I

1 think developing additional resources in that
2 setting will also be important, in addition to
3 these resources looking at real-world data for
4 newly diagnosed patients.

5 DR. PAPPO: Thank you, Ted.

6 Tobey?

7 DR. MACDONALD: Tobey MacDonald, Emory
8 University. I would only add, as we touched upon
9 before, that the functional genomics data that's
10 currently underway in the adult population now,
11 seemingly trickling down somewhat to some
12 histologies in pediatrics, will be of incredible
13 value as we now are developing our targeted
14 therapeutic programs.

15 We currently have one target in the drug,
16 and we still don't know or understand when the drug
17 works or fails to work. These type of data would
18 be helpful for that understanding, as well as to
19 the next level. We need to have combined
20 therapeutics, and the functional genomics hopefully
21 will inform us of which drugs to pick.

22 DR. PAPPO: Thank you.

1 One more comment, Dr. Glade Bender?

2 DR. GLADE BENDER: Julia Glade Bender of
3 Memorial Sloan Kettering. I just want to add to
4 what Dr. Janeway was trying to articulate, which is
5 what I think I was trying to articulate before,
6 which is, really, that currently we're only
7 supposed to collect data on trials where we have an
8 objective, and in order to do that, then collect
9 that data, we need to have that question funded as
10 well.

11 So it becomes difficult to then have a
12 complete data set to subsequently use as a future
13 external control if we don't have all of that data.

14 I think that the general molecular profiling
15 national trial will help a lot if there is tissue,
16 but there won't always be tissue. If the standard
17 of care is to continue to take as minimal a biopsy
18 as possible upfront, then we will never get that
19 data, and we will never be able to stratify
20 patients molecularly to make sure that we have the
21 right balance in our historical cohort.

22 So just wondering and remembering a prior

1 FDA ODAC meeting where I remember an impassioned
2 public comment about biopsy and DIPG, that if we
3 didn't do that, we would never be able to move
4 forward; and thinking that if we want to move
5 forward and make use of this real-world data, we're
6 going to need to potentially change our practices
7 of how we collect tissue.

8 DR. PAPPO: Thank you very much, Julia.

9 If I can summarize the comments, it appears
10 that real-world data and real-world evidence are
11 going to be very helpful in helping better define
12 rare molecular subtypes of pediatric cancers.

13 Some of the current limitations include the
14 quality of the data sets, specifically genetic data
15 sets that could better help us predict response and
16 identify biomarkers for future clinical trial risk
17 stratification.

18 One of the main limiting factors is funding
19 and resources, and this is something that needs to
20 be expanded a little bit more. And another issue
21 would be to expand studies to include functional
22 genetics to try to better inform targeted therapies

1 for these patients.

2 Did I encapsulate everybody's comments ok,
3 or did I miss anything?

4 (No response.)

5 DR. PAPPO: Alright. We will move to
6 question number 2. Given the discussion of the FDA
7 framework on the use of real-world data and
8 real-world evidence on regulatory decision making,
9 consider how best to assess the appropriateness of
10 existing or emerging data sources as potential
11 sources for real-world data. Discuss critical
12 attributes of such data.

13 If there are no questions or comments
14 concerning the wording of the question, we will now
15 open the question for discussion. And I think this
16 question pretty much expands a little bit on some
17 of the points that were raised on the last one, but
18 we will go ahead and take comments from
19 Dr. MacDonald.

20 DR. MACDONALD: Sorry. That was a left-over
21 hand raised up.

22 DR. PAPPO: Okay.

1 Anyone want to make any comments on this
2 specific question or expand on this? I think that
3 some of the issues were addressed on the previous
4 one, but let's see if someone else -- Katie has a
5 comment.

6 DR. JANEWAY: Yes. My only comment
7 here -- sorry. Dr. Janeway, Dana-Farber, Boston
8 Children's, still. I haven't moved yet.

9 So my only comment here was that there's
10 been a huge emphasis in this discussion on the
11 CCDI, which I think is great. It's a terrific
12 aggregation effort and also an effort to fund
13 generation of new data. But there are already some
14 efforts [inaudible - audio gap] -- and I just think
15 it's important to keep those in mind. I suspect
16 they will be incorporated into the CCDI eventually
17 or somehow accounted for in the catalog. But I
18 just wanted to say that.

19 DR. PAPPO: Thank you, Katie.

20 Anyone else?

21 (No response.)

22 DR. PAPPO: I'm requesting to enter the

1 meeting. I guess they don't want me around
2 anymore. Hold just for a second. Okay. I'm back
3 in.

4 Katie's comment was to just take into
5 consideration that there are other efforts to try
6 to have data that includes clinical and genomic
7 data, and those efforts need to be recognized.

8 If there's not any additional comments on
9 question number 2, we will move to question
10 number 3.

11 (Pause.)

12 DR. PAPP0: Do you want me to advance to
13 question number 3 or do you just want me to read
14 it?

15 Consider the real and perceived limitations
16 of real-world evidence from existing and developing
17 registries in pediatric cancer drug development as
18 a result of the General Patient Data Regulations in
19 the European Union?

20 Katie?

21 DR. JANEWAY: Sorry. I don't mean to keep
22 commenting. Katie Janeway, Dana-Farber, Boston

1 Children's. We do have some experience in early
2 conversations with our European colleagues in bone
3 sarcoma, and the main issue here was GDPR
4 [inaudible - audio gap] between the clinical data
5 whether from the electronic medical record or
6 registry, or a clinical trial, and data generated
7 from patient samples.

8 So I think, given that people emphasized it
9 with biomarkers and rare population subsets, this
10 becomes a major issue.

11 It is going to be important for those rare
12 subsets to aggregate data between U.S., Europe and,
13 frankly, other countries outside of Europe as well,
14 and the regulatory issues do become, in some cases,
15 quite limiting.

16 DR. PAPP0: Thank you very much, Katie. I
17 don't see that I've been admitted to the meeting
18 again, but I know that Dr. Reaman was there and
19 Dr. Seibel, so let's go with Dr. Reaman.

20 DR. REAMAN: Hi. Greg Reaman from the FDA.
21 I just wanted to provide a little bit of context
22 for this question, and I think Katie addressed it.

1 I had hoped that in the discussion of the
2 CCDI, there may have been a little bit more of an
3 idea as to how international cooperation/
4 collaboration might actually develop in a
5 harmonized aggregated system of pediatric cancer
6 data. But we are aware of concerns with sponsors
7 who have attempted to depend on data from
8 registries outside of the U.S. and the release of
9 some of those data.

10 So it's something that I think just needs to
11 be appreciated as a potential challenge, and it's a
12 particular challenge because these regulations are
13 actually directed at situations where there might
14 be for-profit or a financial motivation for the
15 release of the data outside of that institution or
16 country.

17 I also wanted to just follow up with
18 Dr. Janeway's question before about other
19 resources. Bringing information to us about those
20 other resources would certainly be helpful. That
21 doesn't have to happen today or anything like that,
22 but if there are resources for real-world evidence

1 that people are aware of, and maybe even been
2 thinking about using, that would be helpful for the
3 agency to have that information as well. Thanks.

4 DR. PAPP0: Thank you very much, Greg.

5 Dr. Chen, I cannot see anything except for
6 the fact that I have requested entering into the
7 meeting. Would you like for me just to log out or
8 log back in, or can you help me before I ask
9 Dr. Seibel to comment on this?

10 DR. CHEN: Yes. Thank you.

11 Dr. Pappo, go ahead and start with
12 Dr. Seibel. We're working on it. Thank you so
13 much.

14 DR. PAPP0: Okay, Nita. Do you mind going
15 ahead, please?

16 DR. SEIBEL: Sure. Nita Seibel from NCI. I
17 wanted to echo what Katie and Greg had said. The
18 GDPR seems like it will be very restrictive and
19 potentially block companies from transferring data
20 to other companies or to share it, as well as
21 patients have the right to the erasure of their
22 data.

1 So I think this could really impact things,
2 depending particularly on small populations, where
3 it would be important to see what the European
4 experience would be with a certain drug. So I
5 think this will be an issue; although, Greg, you
6 probably know much more about it than I do.

7 DR. PAPPO: Greg, would you like to expand
8 on that?

9 DR. REAMAN: Sorry. Greg Reaman. I'm not
10 sure that I can really expand on it. I don't think
11 we've actually seen the negative impact that we're
12 expecting to see. But I do know that there have
13 been situations where institutions have not been
14 able to provide data to sponsors that they
15 originally had agreed to provide because of the new
16 regulation. So I do suspect that it may become a
17 bigger issue in the future.

18 (Pause.)

19 CAPT WAPLES: Hi. Good afternoon. This is
20 Yvette Waples, the team lead for this meeting.
21 We're just going to do a quick two-minute pause to
22 try to get Pappo back on. Thank you.

1 (Pause.)

2 DR. PAPPO: Okay. Can you hear me now?

3 DR. CHEN: Yes, we can.

4 DR. PAPPO: Good. I'm sorry. I had to log
5 back out and back in.

6 Dr. Chen, can you establish a chat with me
7 again, please?

8 DR. CHEN: Sure. Just a moment.

9 (Pause.)

10 DR. PAPPO: Thank you for your patience.

11 (Pause.)

12 DR. PAPPO: Okay. I don't know if there
13 were any other speakers in the queue after
14 Dr. Seibel and Dr. Reaman.

15 Can you let me know, Dr. Chen?

16 (Pause.)

17 DR. PAPPO: From what I could gather, there
18 may be some issues with the GDPR and that some of
19 this data might be a little bit more restrictive.
20 And given the regulations, it may affect the
21 ability to obtain data, especially since some of
22 this may be driven by pharma, and also given the

1 option of the patients not to share the data, if I
2 understood that correctly.

3 Did I get that right or, Greg, do you want
4 to add anything to what I said? It was kind of
5 choppy, and I couldn't get all of the comments
6 together. Sorry.

7 DR. REAMAN: I think you captured it pretty
8 well, Alberto.

9 DR. PAPPO: Okay. Sorry. Thank you very
10 much.

11 We will now proceed with question number 4.
12 Consider possible mechanisms for how and by whom
13 attribution of real-world data and real-world,
14 evidence-generated adverse events of new cancer
15 drugs can be accomplished, and data optimally
16 aggregated to inform patients and providers.

17 Steve?

18 DR. DUBOIS: Steve DuBois, Dana-Farber,
19 Boston Children's. This is a really tricky one.
20 We completed a project here just looking at our
21 local patients getting off-label use of targeted
22 therapies, and it was a mountain of work for the

1 team extracting all of the data. We really focused
2 on things that we thought would be clinically
3 significant, such as admissions, ER visits, or
4 urgent clinic visits. We weren't able to capture
5 all grades of all AEs for this type of a project.
6 I don't have a solution, but just to say out loud
7 that this is really hard.

8 Dr. Seibel?

9 DR. SEIBEL: Oh, that might be left from
10 before. But actually I do have a comment on this
11 in that this is tricky, the whole idea of whether
12 you could link medical records to registry.

13 Part of the issue with adverse events from
14 new cancer drugs is what is the control for this?
15 This has come up particularly with a drug that
16 we're looking at with osteosarcoma and
17 pneumothoraces. So what is our baseline to know if
18 this is a new adverse event? It's something we
19 need, but it is a challenge, I think, how to gather
20 those data.

21 DR. PAPP0: Dr. Reaman?

22 DR. REAMAN: I apologize. [Inaudible -

1 audio gap].

2 DR. PAPPO: Yes. I think the question was
3 also the possible mechanisms, so we recognize that
4 there is a challenge, but does anybody have any
5 potential idea of what would be a better mechanism
6 to try to get this data?

7 Julia?

8 DR. GLADE BENDER: I think recognizing the
9 challenges and given the very interesting
10 discussion that we had yesterday regarding the
11 Patient Voice, I wonder if there is any way to
12 incorporate the Patient Voice in some of this
13 adverse event capturing of real-world data; in
14 particular, if we could figure out what were the
15 questions that we wanted to ask of patients and
16 picked from validated measurements such as some of
17 the ones we learned about yesterday in PRO-CTCAE,
18 and whether One Source could potentially be the
19 patient themselves.

20 DR. PAPPO: Thank you.

21 So what I'm hearing is that everybody agrees
22 that it's challenging to get this data right, and

1 perhaps one possibility would be to incorporate
2 other data sets like Patient Voice to try to
3 complement the data that is obtained to inform
4 possible mechanisms for real-world data and
5 real-world evidence for drug-generated adverse
6 events.

7 Did I get that one right? Did I miss
8 anything?

9 (No response.)

10 DR. PAPP0: It looks like we don't have any
11 other takers, so that was pretty cool, and it went
12 very, very smoothly, so we will now proceed with
13 the FDA closing remarks from Dr. Greg Reaman.

14 **Closing Remarks - Gregory Reaman**

15 DR. REAMAN: Thank you, Alberto, and thanks
16 to all of the committee for today's discussion. I
17 thought this was an important issue to bring before
18 the pediatric subcommittee, given that there are
19 potential -- and I say potential -- opportunities
20 for real-world evidence in facilitating pediatric
21 cancer drug development.

22 As you heard, the issue is not new to the

1 agency. Real-world evidence has been used
2 primarily in the postmarketing setting, but the
3 21st Century Cures Act did provide opportunities
4 for real-world evidence to actually extend
5 indications, or supplemental indications, for
6 approved drugs.

7 Now with the RWE program, I think it's
8 looking at areas that certainly need guidance and
9 development, assessing fitness, or fit for purpose,
10 of data to be used, a plan to accumulate experience
11 through a demonstration projects, and then
12 hopefully actually publish a guidance on how real-
13 world evidence might be used in the construction of
14 external controls.

15 I think one important thing was that the
16 evidentiary standards for benefit-risk or for
17 determining safety and effectiveness of new drugs
18 can't be and won't be altered by the use or the
19 consideration of external controls and studies of
20 products.

21 This might be perceived as a high bar for
22 external controls, but I think until we get this

1 all sorted out, it probably is going to require a
2 great deal of discussion between investigators,
3 sponsors, and regulators.

4 I'd point out that although many of our
5 studies in pediatric cancer are single-arm studies,
6 the need for control is really essential in those
7 studies that have time-to-event endpoints. So
8 there's still the opportunity for single-arm
9 studies using objective response and duration of
10 response as important endpoints to move promising
11 agents forward.

12 I think we did hear about the challenges,
13 and I think the opportunities are real. The
14 challenges unfortunately are more real,
15 particularly in the setting of non-contemporaneous
16 or historical controls, the temporality of data,
17 the changing biology, the changing standards of
18 care, and issues related to biomarker analysis and
19 genomic phenotyping.

20 I think, as has been pointed out in the CCDI
21 discussion and by the committee, there are real
22 promises I think with Project Every Child and

1 extending the opportunity for genomic sequencing
2 and phenotyping of tumors for all pediatric cancer
3 patients, and that might actually benefit external
4 control development as well.

5 The issue of completeness of data and
6 missing data is obviously an issue, ascertainment
7 of data, and particularly outcome assessments.
8 This is a problem that we have seen, where outcomes
9 are assessed in the experimental group at specified
10 intervals but not necessarily specified at
11 particular intervals in the, quote/unquote,
12 "external control group." So are results really
13 and truly comparable?

14 Homogeneity of patient populations, and
15 particularly looking at prognostic factors and the
16 need for propensity score matching, I think the big
17 message here is the need for granular patient-level
18 data, and also the need for prespecified plans and
19 prespecified statistical analysis plans.

20 It's really not appropriate to keep
21 analyzing a proposed historical control until you
22 come out with planned or anticipated results, and

1 then say that's the historical control group that
2 you want to use.

3 So the message that I think is of greatest
4 importance is there's really a need, if this is
5 something that's going to be pursued, for early
6 discussion, and early discussion with the
7 real-world evidence program within the Oncology
8 Center of Excellence, and discussions with the
9 appropriate review divisions and their statistical
10 colleagues.

11 I think there's also a need to evaluate the
12 hybrid designs, so there may be opportunities to
13 not just use an external control, but to use some
14 real-world evidence to inform a Bayesian design as
15 well. Obviously, there is no guidance at this
16 time, at this point in time, and there's no
17 precedent to use as a template. And you might
18 actually take that as a call to arms, if you will,
19 and help develop the precedent.

20 I think CCDI, as it was explained, has
21 incredible promise to provide data with respect to
22 a genomic characterization and its linkage with

1 Project Every Child. If there are patient-level
2 clinical data that can accompany those phenotypic
3 data, it could be a very valuable resource.

4 I think extending the NCTN Data Archive to
5 earlier phase studies would be very helpful, and
6 particularly the phase 2 or activity-seeking
7 studies in the pediatric population could be very
8 informative and helpful.

9 With respect to safety monitoring, I think
10 the whole issue of attribution of an adverse event
11 to a specific drug is somewhat problematic, but I
12 think looking at targeted investigations and then
13 evaluating potential pharmacogenetic correlations
14 has some really promising opportunities for
15 children with cancer and with pediatric cancer drug
16 development.

17 So obviously this is a beginning, and I
18 think it was a great discussion. I hope everyone
19 found the information of interest and of use going
20 forward. With that, I would just like to thank you
21 all again for participating virtually in yet
22 another pediatric subcommittee meeting. Thanks.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Adjournment

DR. PAPPO: Thank you very much for your comments, Greg. I want to thank all the panel members for your participation, also all the FDA staff for making this run very smoothly, and all the speakers and guest speakers, and hope to see you next year in person.

We will now adjourn the meeting. Thank you very much.

(Whereupon, at 4:20 p.m., the meeting was adjourned.)