

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION

CENTER FOR DRUG EVALUATION AND RESEARCH

ONCOLOGIC DRUGS ADVISORY COMMITTEE (ODAC) MEETING

Topic 2

Virtual Meeting

Wednesday, April 28, 2021

12:45 p.m. to 3:12 p.m.

Meeting Roster**ACTING DESIGNATED FEDERAL OFFICERS (Non-Voting)****Joyce Yu, PharmD**

(April 27 and 28 Only)

Division of Advisory Committee and

Consultant Management

Office of Executive Programs, CDER, FDA

ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)**Susan Halabi, PhD**

Professor of Biostatistics and Bioinformatics

Duke University Medical Center

Durham, North Carolina

Philip C. Hoffman, MD

*(Chairperson, April 27, 28, April 29 Topics 2 and 3
Only)*

Professor of Medicine

The University of Chicago

Section of Hematology/Oncology

Department of Medicine

Chicago, Illinois

1 **Christopher H. Lieu, MD**

2 Associate Professor of Medicine and Associate
3 Director, Clinical Research
4 Director, Gastrointestinal Medical Oncology Program
5 University of Colorado
6 Aurora, Colorado

7
8 **David E. Mitchell**

9 *(Consumer Representative, April 28 and 29 Only)*
10 Founder, Patients for Affordable Drugs
11 Bethesda, Maryland

12
13 **Anthony D. Sung, MD**

14 *(April 28 Topic 2 and April 29 Topic 3 Only)*
15 Assistant Professor of Medicine
16 Duke University School of Medicine
17 Duke Adult Blood and Marrow Transplant Clinic
18 Durham, North Carolina

19
20
21
22

1 **ACTING INDUSTRY REPRESENTATIVE TO THE COMMITTEE**

2 **(Non-Voting)**

3 **Albert L. Kraus, PhD**

4 Global Regulatory Portfolio Lead, Oncology

5 Pfizer, Inc.

6 Guilford, Connecticut

7

8 **TEMPORARY MEMBERS (Voting)**

9 **Andrea B. Apolo, MD**

10 *(April 28 Only)*

11 Head, Bladder Cancer Section

12 Genitourinary Malignancies Branch

13 Director, Bladder Cancer and Genitourinary Tumors

14 Multidisciplinary Clinic

15 Center for Cancer Research (CCR)

16 National Cancer Institute (NCI)

17 National Institutes of Health (NIH)

18 Bethesda, Maryland

19

20

21

22

1 **Julie N. Graff, MD**

2 *(April 28 Topic 2 Only)*

3 Section Chief of Hematology/Oncology

4 Veterans Affairs Portland Health Care System

5 Associate Professor of Medicine

6 Knight Cancer Institute

7 Oregon Health & Science University

8 Portland, Oregon

9

10 **Colette Johnston**

11 *(Patient Representative, April 28 Only)*

12 Moab, Utah

13

14 **Ravi Madan, MD**

15 *(April 28 Only)*

16 Clinical Director

17 Genitourinary Malignancies Branch

18 CCR, NCI, NIH

19 Bethesda, Maryland

20

21

22

1 **Matthew Rettig, MD**

2 *(April 28 Topic 2 Only)*

3 Chief, Division of Hematology-Oncology

4 Veterans Affairs Greater Los Angeles Healthcare

5 System

6 Professor of Medicine and Urology

7 David Geffen School of Medicine at University of

8 California, Los Angeles

9 Los Angeles, California

10

11 **Mohammad M. Siddiqui, MD, FACS**

12 *(April 28 Only)*

13 Associate Professor of Surgery/Urology

14 Director of Urologic Oncology

15 University of Maryland Medical Center

16 Chief of Urology

17 Veterans Affairs Maryland Healthcare System

18 Baltimore, Maryland

19

20

21

22

1 **FDA PARTICIPANTS (Non-Voting)**

2 **Richard Pazdur, MD**

3 Director, Oncology Center of Excellence (OCE)

4 Acting Director, Office of Oncologic Diseases (OOD)

5 Office of New Drugs (OND), CDER, FDA

6

7 **Julia Beaver, MD**

8 Chief of Medical Oncology, OCE

9 Deputy Director (Acting)

10 OOD, OND, CDER, FDA

11

12 **Laleh Amiri-Kordestani, MD**

13 *(April 27 and 28 Only)*

14 Director

15 Division of Oncology 1 (DO1)

16 OOD, OND, CDER, FDA

17

18

19

20

21

22

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order	
4	Philip Hoffman, MD	10
5	Introduction of Committee	
6	Joyce Yu, PharmD	11
7	Conflict of Interest Statement	
8	Joyce Yu, PharmD	15
9	FDA Introductory Comments on	
10	Accelerated Approval for Oncology	
11	Drug Products: Regulatory Review	
12	Julia Beaver, MD	21
13	Applicant Presentations - Genentech, Inc.	
14	Introduction	
15	Charles Fuchs, MD	30
16	Sponsor's Position on Maintaining the	
17	Accelerated Approval for Atezolizumab	
18	(Tecentriq) in First Line Metastatic	
19	Urothelial Cancer	
20	Corey Carter, MD	33
21		
22		

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C O N T E N T S (continued)

AGENDA ITEM	PAGE
FDA Presentation	
Atezolizumab 1st-Line Treatment of Cisplatin Ineligible Patients with Urothelial Cancer (UC)	
Laleh Amiri-Kordestani, MD	56
Clarifying Questions to Presenters	66
Open Public Hearing	100
Questions to the Committee and Discussion	108
Adjournment	120

P R O C E E D I N G S

(12:45 p.m.)

Call to Order

DR. HOFFMAN: Good afternoon and welcome. I would first like to remind everyone to please mute your line when you are not speaking. For media and press, the FDA press contact is Chanapa Tantibanchachai. Her email and phone number are currently displayed.

My name is Philip Hoffman, and I will be chairing today's meeting. I will now call the second topic of the April 28, 2021 meeting of the Oncologic Drugs Advisory Committee to order. Dr. Joyce Yu is the designated federal officer for this meeting and will begin with introductions.

DR. YU: Thank you, Dr. Hoffman. I'm just waiting for my slides to load for the introductions.

(Pause.)

DR. YU: Dr. Hoffman, can you hear me?

DR. HOFFMAN: Now I can.

DR. YU: Okay. Thank you. I'm waiting for

1 my introduction slide, if we can have that pulled
2 up. Thank you.

3 (Pause.)

4 **Introduction of Committee**

5 DR. YU: Good afternoon. My name is Joyce
6 Yu, and I am the designated federal officer for
7 this meeting. When I call your name, please
8 introduce yourself by stating your name and
9 affiliation.

10 Dr. Halabi?

11 DR. HALABI: Yes. Good afternoon. Susan
12 Halabi, biostatistician, Duke University.

13 DR. YU: Dr. Hoffman?

14 DR. HOFFMAN: My name is Philip Hoffman.
15 I'm a medical oncologist at University of Chicago.

16 DR. YU: Dr. Lieu?

17 DR. LIEU: This is Chris Lieu, medical
18 oncologist, University of Colorado.

19 DR. YU: Mr. Mitchell?

20 MR. MITCHELL: I'm David Mitchell. I'm the
21 consumer representative, and I'm also a cancer
22 patient with multiple myeloma.

1 DR. YU: Dr. Sung?

2 DR. SUNG: Anthony Sung, hematopoietic stem
3 cell transplant physician at Duke University.

4 DR. YU: Dr. Apolo, we can't hear you.
5 Could you unmute your phone?

6 DR. APOLO: Can you hear me?

7 DR. YU: Yes.

8 DR. APOLO: Hi. This is Andrea Apolo,
9 medical oncologist at the National Cancer Institute
10 in Bethesda, Maryland.

11 DR. YU: Thank you.

12 Dr. Graff?

13 DR. GRAFF: Hi. This is Julie Graff. I'm a
14 medical oncologist at the Portland VA Healthcare
15 System.

16 DR. YU: Ms. Johnston?

17 MS. JOHNSTON: Yes. My name is Colette
18 Johnston. I'm the patient representative. I have
19 served on IRBs and various other organizations for
20 over 20 years.

21 DR. YU: Dr. Madan?

22 DR. MADAN: Good afternoon. My name is Ravi

1 Madan. I'm a medical oncologist at the National
2 Cancer Institute.

3 DR. YU: Thank you.

4 Dr. Rettig?

5 DR. RETTIG: My name is Matt Rettig. I'm a
6 G [ph] medical oncologist at VA in West Los Angeles
7 and the affiliated academic institution at UCLA.

8 DR. YU: Dr. Siddiqui?

9 DR. SIDDIQUI: Hi. This is Mohummad
10 Siddiqui. I'm a urologic oncologist at the
11 University of Maryland and the Baltimore VA Medical
12 Center.

13 DR. YU: Dr. Kraus?

14 DR. KRAUS: Good afternoon. My name is
15 Albert Kraus. I work in oncology, research, and
16 development, bringing new cancer medicines from the
17 lab through to the patient. I work for Pfizer.

18 DR. YU: Now, I'll introduce the FDA
19 participants.

20 Dr. Pazdur?

21 DR. PAZDUR: Hi. This is Rick Pazdur. I'm
22 the director of the Oncology Center of Excellence

1 at the FDA.

2 DR. YU: Dr. Beaver?

3 DR. BEAVER: Hi. This is Julia Beaver. I'm
4 a medical oncologist and chief of medical oncology
5 in the Oncology Center of Excellence at FDA.

6 DR. YU: Dr. Amiri?

7 DR. AMIRI-KORDESTANI: Hi. Laleh
8 Amiri-Kordestani. I'm a hematologist/oncologist
9 and division director for the Division of
10 Oncology 1.

11 DR. YU: Dr. Hoffman?

12 DR. HOFFMAN: Okay.

13 For topics such as those being discussed at
14 this meeting, there are often a variety of
15 opinions, some of which are quite strongly held.
16 Our goal is that this meeting will be a fair and
17 open forum for discussion of these issues and that
18 individuals can express their views without
19 interruption.

20 Thus, as a gentle reminder, individuals will
21 be allowed to speak into the record only if
22 recognized by the chairperson. We look forward to

1 a productive meeting.

2 In the spirit of the Federal Advisory
3 Committee Act and the Government in the Sunshine
4 Act, we ask that the advisory committee members
5 take care that their conversations about the topic
6 at hand take place in the open forum of the
7 meeting.

8 We are aware that members of the media are
9 anxious to speak with the FDA about these
10 proceedings, however, FDA will refrain from
11 discussing the details of this meeting with the
12 media until its conclusion. Also, the committee is
13 reminded to please refrain from discussing the
14 meeting topic during the break. Thank you.

15 Dr. Joyce Yu will read the Conflict of
16 Interest Statement for the meeting.

17 **Conflict of Interest Statement**

18 DR. YU: Thank you.

19 The Food and Drug Administration, FDA, is
20 convening today's meeting of the Oncologic Drugs
21 Advisory Committee under the authority of the
22 Federal Advisory Committee Act, FACA, of 1972.

1 With the exception of the industry representative,
2 all members and temporary voting members of the
3 committee are special government employees, SGEs,
4 or regular federal employees from other agencies
5 and are subject to federal conflict of interest
6 laws and regulations.

7 The following information on the status of
8 this committee's compliance with federal ethics and
9 conflict of interest laws, covered by but not
10 limited to those found at 18 U.S.C. Section 208, is
11 being provided to participants in today's meeting
12 and to the public.

13 FDA has determined that members and
14 temporary voting members of this committee are in
15 compliance with federal ethics and conflict of
16 interest laws. Under 18 U.S.C. Section 208,
17 Congress has authorized FDA to grant waivers to
18 special government employees and regular federal
19 employees who have potential financial conflicts
20 when it is determined that the agency's need for a
21 special government employee's services outweighs
22 his or her potential financial conflict of interest

1 or when the interest of a regular federal employee
2 is not so substantial as to be deemed likely to
3 affect the integrity of the services which the
4 government may expect from the employee.

5 Related to the discussions of today's
6 meeting, members and temporary voting members of
7 this committee have been screened for potential
8 financial conflicts of interests of their own as
9 well as those imputed to them, including those of
10 their spouses or minor children and, for purposes
11 of 18 U.S.C. Section 208, their employers. These
12 interests may include investments; consulting;
13 expert witness testimony; contracts, grants,
14 CRADAs; teaching, speaking, writing; patents and
15 royalties; and primary employment.

16 Today's agenda involves receiving updates on
17 biologics license application 761034/supplement 1,
18 trade name Tecentriq, atezolizumab, submitted by
19 Genentech, Incorporated, indicated for patients
20 with locally advanced or metastatic urothelial
21 carcinoma who are not eligible for
22 cisplatin-containing chemotherapy.

1 The committee will hear updates on this
2 supplemental biologics license application approved
3 under 21 CFR 601.40, subpart E, accelerated
4 approval regulations, with confirmatory trial or
5 trials that have not verified clinical benefit.
6 These updates will provide information on: 1) the
7 status and results of confirmatory clinical trials
8 for the given indication; and 2) any ongoing and
9 planned trials.

10 Confirmatory studies are postmarketing
11 studies to verify and describe the clinical benefit
12 of a drug after it receives accelerated approval.
13 Based on the updates provided, the committee will
14 have a general discussion focused on next steps for
15 this product, including whether the indication
16 should remain on the market while additional trial
17 or trials are conducted. This is a particular
18 matters meeting during which specific matters
19 related to Genentech's sBLA will be discussed.

20 Based on the agenda for today's meeting and
21 all financial interests reported by the committee
22 members and temporary voting members, a conflict of

1 interest waiver has been issued in accordance with
2 18 U.S.C. Section 208(b)(3) to Dr. Christopher
3 Lieu. Dr. Lieu's waiver involves his employer's
4 research contract funded by the National Cancer
5 Institute. The NCI has an agreement with Roche
6 Genentech, sponsor of Tecentriq, atezolizumab. His
7 employer receives \$25,000 to \$75,00 per year from
8 NCI.

9 The waiver allows this individual to
10 participate fully in today's deliberations. FDA's
11 reasons for issuing the waiver are described in the
12 waiver document, which is posted on FDA's website
13 at [https://www.fda.gov/advisory-committees/
14 committees-and-meeting-materials/human-drug-
15 advisory-committees.](https://www.fda.gov/advisory-committees/committees-and-meeting-materials/human-drug-advisory-committees)

16 Copies of the waivers may also be obtained
17 by submitting a written request to the agency's
18 Freedom of Information division, 5630 Fishers Lane,
19 Room 1035, Rockville, Maryland, 20857, or requests
20 may be sent via fax to 301-827-9267.

21 To ensure transparency, we encourage all
22 standing committee members and temporary voting

1 members to disclose any public statements that they
2 have made concerning the product at issue. With
3 respect to FDA's invited industry representative,
4 we would like to disclose that Dr. Albert Kraus is
5 participating in this meeting as a non-voting
6 industry representative, acting on behalf of
7 regulated industry. Dr. Kraus' role at this
8 meeting is to represent industry in general and not
9 any particular company. Dr. Kraus is employed by
10 Pfizer.

11 We would like to remind members and
12 temporary voting members that if the discussions
13 involve any other products or firms not already on
14 the agenda for which an FDA participant has a
15 personal or imputed financial interest, the
16 participants need to exclude themselves from such
17 involvement, and their exclusion will be noted for
18 the record. FDA encourages all other participants
19 to advise the committee of any financial
20 relationships that they may have with the firm at
21 issue. Thank you.

22 DR. HOFFMAN: We will now proceed with FDA

1 introductory comments from Dr. Julia Beaver.

2 **FDA Introductory Comments - Julia Beaver**

3 DR. BEAVER: Good afternoon, Chairman and
4 members of the committee. My name is Julia Beaver.
5 I'm a medical oncologist and chief of medical
6 oncology in the Oncology Center of Excellence, and
7 acting deputy director in the Office of Oncologic
8 Diseases at FDA.

9 I will be giving opening remarks to provide
10 background on accelerated approval and set the
11 stage for your discussions in this session. I will
12 provide similar remarks to introduce the other
13 sessions in this three-day accelerated approval
14 advisory committee meeting.

15 I will first explain the regulatory
16 background and history of the accelerated approval
17 program in oncology and the intent of the program.
18 I will then discuss our oncology experience with
19 accelerated approval so you can use this historical
20 knowledge to inform your decisions regarding the
21 indication to be discussed. I will begin with the
22 regulatory background and requirements for granting

1 an accelerated approval.

2 In 1992, the accelerated approval
3 regulations were added as an alternative pathway to
4 regular approval to expedite the delivery of
5 promising drug products for serious or life-
6 threatening illnesses that lacked satisfactory
7 treatment.

8 Cancer meets this serious and life-
9 threatening requirement, and like regular approval,
10 accelerated approval still requires substantial
11 evidence of efficacy and safety. However, for
12 accelerated approval, the efficacy evidence can be
13 based on an earlier endpoint reasonably likely to
14 predict clinical benefit and needs to be an
15 endpoint other than survival or irreversible
16 morbidity.

17 In oncology, this endpoint is most commonly
18 response rate or progression-free survival, those
19 earlier endpoints that can be used for either
20 regular or accelerated approval depending on the
21 magnitude of the results, safety data, and disease
22 context. To receive accelerated approval, the drug

1 product should also provide meaningful therapeutic
2 benefit over that of existing therapies, meaning
3 over therapies that are approved under regular
4 approval or set standards of care.

5 Because of the uncertainty associated with
6 accelerated approval, confirmatory postmarketing
7 trial or trials may be required to verify clinical
8 benefit, and these trials would usually be underway
9 at the time of the accelerated approval; can be
10 carried out in a different treatment setting, for
11 instance, an accelerated approval as monotherapy in
12 a refractory setting and a confirmatory trial in
13 the same disease but in an earlier setting in
14 combination with chemotherapy, and these need to be
15 carried out with due diligence. The majority of
16 accelerated approvals have been for oncology
17 products, and I will now go over the oncology
18 experience with accelerated approval.

19 Over the last three decades, there have been
20 over 150 accelerated approvals in oncology and
21 35 anti-PD-1 or PD-L1 antibody accelerated
22 approvals, with close to half converting to regular

1 approval in a median of three years and only
2 10 withdrawals.

3 As discussed, accelerated approval
4 indications may be withdrawn if postmarketing
5 trials do not confirm clinical benefit or are not
6 conducted with due diligence. FDA appreciates that
7 a clinical trial that does not meet its endpoint or
8 does not demonstrate a meaningful outcome does not
9 necessarily mean the drug is not effective. This
10 failure to demonstrate meaningful efficacy rather
11 than a true lack of efficacy can potentially be
12 explained by differences in trial design, including
13 endpoints, statistical testing, or biomarker
14 selection.

15 As clear reasons exist for a trial not to
16 achieve its primary endpoint or to demonstrate a
17 small benefit that is not meaningful and an unmet
18 medical need still exists, FDA will work with
19 companies to identify subsequent clinical trials to
20 verify benefit while retaining the original
21 accelerated approval on the market.

22 In cases where withdrawal is appropriate,

1 drugs have typically been removed voluntarily by
2 the company through communication and consultation
3 with FDA. The one exception to this voluntary
4 withdrawal was bevacizumab for the treatment of
5 HER2-negative metastatic breast cancer, where FDA
6 initiated withdrawal proceedings.

7 I will now discuss the content and
8 background of the advisory committee meetings over
9 these three days.

10 FDA and the Oncology Center of Excellence
11 continuously evaluate the accelerated approval
12 program to make sure the benefit to patients is
13 maintained and to increase transparency. In the
14 future, we may continue public discussions of these
15 evaluations on a more periodic basis.

16 Over the last six years, there has been an
17 unprecedented level of drug development for the
18 anti-PD-1 or anti-PD-L1 antibody class, with more
19 than 75 indications approved in oncology with
20 35 accelerated approvals, with development for
21 these indications reflecting a high unmet medical
22 need.

1 The FDA Oncology Center of Excellence
2 evaluated these accelerated approvals and
3 identified 10 indications for anti-PD-L1 and
4 anti-PD-1 antibodies where accelerated approval had
5 been granted, and results from confirmatory trial
6 or trials did not meet their primary efficacy
7 endpoint or only demonstrated a small benefit not
8 deemed clinically meaningful.

9 While these antibodies have definitive
10 disease activity for this specific patient, given
11 the results of the confirmatory studies, the
12 risk-benefit calculation for these indications may
13 have changed in the contemporary treatment
14 landscape and thus warrant further examination.

15 FDA therefore initiated discussions for
16 these respective indications with the companies,
17 recommending withdrawal or alternatively bringing
18 the indication to a public discussion at this
19 advisory committee meeting.

20 Four antibody indications in small-cell lung
21 cancer and in urothelial carcinoma, shown here,
22 appropriately chose to voluntarily withdraw their

1 indications in consultation with FDA.

2 It is notable that both the small-cell lung
3 cancer and urothelial indications have seen a
4 changing landscape of disease treatment, meaning
5 after these accelerated approvals were granted,
6 alternative anti-PD-1 or anti-PD-L1 therapies have
7 demonstrated survival benefit either in the same
8 line of therapy or an earlier line, thus calling
9 into question the benefit of these four indications
10 above that of current available therapies. These
11 withdrawals therefore maintain the integrity of the
12 accelerated approval program.

13 While the four withdrawals were warranted,
14 the remaining six indications that will be
15 discussed during this three-day advisory committee
16 meeting warrant further discussion and we hope to
17 hear further advice. This session will discuss
18 atezolizumab for the treatment of patients with
19 advanced or metastatic urothelial carcinoma who are
20 cisplatin ineligible.

21 There are some key issues for this session
22 we would like the committee to consider. For

1 urothelial carcinoma, an alternative anti-PD-L1
2 therapy, avelumab, has demonstrated clear clinical
3 benefit as maintenance therapy, and this change in
4 available therapy may result in a changed
5 risk-benefit profile compared to that at the time
6 of the initial accelerated approval.

7 In addition, for atezolizumab, the current
8 results of the confirmatory trial do not yet
9 confirm benefit and there are other trials of
10 atezolizumab in patients with early-stage
11 urothelial carcinoma and with later-line metastatic
12 urothelial carcinoma that have not met their
13 endpoint, further questioning the use of
14 atezolizumab for use in this disease type.

15 In conclusion, accelerated approval provides
16 a trade-off of expediting approvals of drugs with
17 increased uncertainty. Oncology has successfully
18 applied the principles of accelerated approval over
19 the last 28 years, making transformative oncology
20 indications available to patients years earlier.

21 The percentage of drugs that do not
22 ultimately confirm clinical benefit should not be

1 viewed as a failure of the program but rather an
2 expected trade-off to expedite drug development of
3 promising agents for severe and life-threatening
4 diseases like cancer. However, since the goal of
5 accelerated approval is patient benefit, when
6 postmarketing studies do not meet their primary
7 objective, the drug product should be re-evaluated
8 in the context of currently available therapy, and
9 if deemed to no longer benefit patients, the
10 accelerated approval indication should be
11 withdrawn.

12 Therefore, we would like the advisory
13 committee to discuss if the indication should be
14 retained on the market while additional trials are
15 conducted or completed. Thank you for your
16 attention.

17 DR. HOFFMAN: Both the Food and Drug
18 Administration and the public believe in a
19 transparent process for information gathering and
20 decision making. To ensure such transparency at
21 the advisory committee meeting, FDA believes that
22 it is important to understand the context of an

1 individual's presentation.

2 For this reason, FDA encourages all
3 participants, including the Genentech non-employee
4 presenters, to advise the committee of any
5 financial relationships that they may have with the
6 sponsor such as consulting fees, travel expenses,
7 honoraria, and interest in the sponsor, including
8 equity interests and those based upon the outcome
9 of the meeting.

10 Likewise, FDA encourages you at the
11 beginning of your presentation to advise the
12 committee if you do not have any such financial
13 relationships. If you choose not to address this
14 issue of financial relationships at the beginning
15 of your presentation, it will not preclude you from
16 speaking.

17 We will now proceed with presentations from
18 Genentech, Incorporated, immediately followed by
19 the FDA presentation.

20 **Applicant Presentation - Charles Fuchs**

21 DR. FUCHS: Good afternoon. I'm Dr. Charles
22 Fuchs, senior vice president and global head of

1 oncology and hematology product development at
2 Genentech and Roche. I want to thank Dr. Hoffman,
3 the committee members, Dr. Pazdur, and the FDA
4 staff for this opportunity to discuss with you
5 maintaining the accelerated approval for
6 atezolizumab in the first-line therapy for patients
7 with advanced urothelial cancer.

8 My background as a medical oncologist, I
9 previously worked at the Dana-Farber Cancer
10 Institute in Boston and subsequently served as
11 director of the Yale Cancer Center in New Haven,
12 Connecticut. In March of this year, I had the
13 privilege of joining Genentech and Roche to lead
14 oncology and hematology drug development.

15 Since the 1990s, the accelerated approval
16 process has fundamentally enhanced our ability to
17 bring novel therapies to the benefit of patients
18 with unmet medical needs, most notably cancers with
19 limited treatment options.

20 In 2017, based on the results of the
21 IMvigor210 trial, pembrolizumab was granted
22 accelerated approval for the treatment of patients

1 with previously untreated advanced urothelial
2 carcinoma who were ineligible for cisplatin. Since
3 that time, we've continued our efforts to further
4 define the role of atezolizumab for patients with
5 this malignancy, and we look forward to reviewing
6 those data with you today.

7 As we will discuss today, there remains an
8 unmet medical need for well-tolerated chemotherapy
9 free treatment options in the first-line therapy of
10 patients with advanced urothelial cancer.

11 Moreover, data emerging since the original
12 accelerated approval from both the and IMvigora210
13 and Imvigora130 trials continue to support the
14 favorable benefit-risk profile for atezolizumab in
15 this setting.

16 Ultimately, in light of this continued unmet
17 need and the durable responses associated with the
18 atezolizumab monotherapy, we believe that the
19 accelerated approval should be maintained while we
20 await the final readout for the IMvigora130 trial.

21 Today, I'm joined by Dr. Corey Carter,
22 Genentech global development lead for genitourinary

1 and renal cancers; Dr. Maoxia Zheng, Genentech
2 senior director of biostatistics; Dr. Alexander
3 Vilimovskij, Roche Genentech safety strategy lead;
4 and Dr.. Jonathan Rosenberg, professor of medicine
5 at Weill Cornell Medical Center and chief of
6 genitourinary medical oncology at Memorial Sloan
7 Kettering Cancer Center. Dr. Rosenberg also served
8 as the principal investigator of the IMvigora210
9 trial.

10 My colleague, Dr. Carter, will now review
11 the data underlying the accelerated approval in
12 urothelial cancer, as well as the data that have
13 been generated since to support our position that
14 the accelerated approval should be maintained as
15 additional data are generated.

16 Dr. Carter?

17 **Applicant Presentation - Corey Carter**

18 DR. CARTER: Thank you, Dr. Fuchs.

19 Good morning and good afternoon, esteemed
20 panel members, and thank you for being here today.
21 My name is Corey Carter, and I am the global
22 development lead for both bladder and renal cell

1 cancer for Genentech Roche. I've spent over ten
2 years as a practicing oncologist at both the
3 National Cancer Institute, as well as Walter Reed
4 Medical Military Center, before joining biopharma.
5 I've been the principal investigator in over
6 25 immunotherapy trials, spanning most approved
7 checkpoint inhibitors, to include the investigation
8 of atezolizumab.

9 Over the course of this presentation, we
10 will explain the rationale for maintaining
11 accelerated approval for atezolizumab in frontline
12 metastatic urothelial carcinoma. Patients who are
13 diagnosed with metastatic bladder cancer and who
14 are not eligible to receive cisplatin remain an
15 area of high unmet need, and there have been very
16 few changes to the treatment landscape.

17 Today we will review the clinical
18 development history of atezolizumab for first-line
19 metastatic bladder cancer, and I will walk you
20 through the most recent efficacy and safety data
21 from both the IMvigor210, which has led to the
22 accelerated approval, and the second interim

1 analysis from the ongoing phase 3 study, the
2 IMvigori30, which is the designated postmarketing
3 requirement. We will end by summarizing the
4 benefit-risk profile for atezolizumab in first-line
5 metastatic urothelial cancer and Genentech's
6 ongoing commitment to patients.

7 Tecentriq, or atezolizumab, is an engineered
8 monoclonal antibody against PD-1 receptor.
9 Atezolizumab activates T cells by interfering with
10 both inhibitory interactions of PD-L1:B71 and
11 PD-L1:PD-1. Atezolizumab is currently approved in
12 six different cancer indications and in over a
13 hundred countries.

14 Let's first take a look back and examine the
15 clinical development timeline. The initial
16 accelerated approval was granted in April of 2017.
17 This prescribed indication was modified in June of
18 2018 as a result of the ongoing IMvigori30 trial's
19 independent data monitoring committee.

20 Single-agent atezolizumab is currently
21 indicated in patients with metastatic urothelial
22 cancer who are not eligible for cisplatin

1 containing chemotherapy and whose tumors express
2 greater than 5 percent PD-L1 by an FDA-approved
3 test. It is also indicated regardless of PD-L1
4 expression in patients that are ineligible for
5 platinum-based chemotherapy.

6 The confirmatory study was initiated in May
7 of 2016, which initially enrolled all patients
8 regardless of PD-L1 staining in the monotherapy
9 arm. Importantly, IMvigor130 study was modified in
10 March of 2018 after an independent data monitoring
11 committee recommended restricting enrollment to
12 only patients who had high PD-L1 expression. This
13 is also what led to the label modification in June
14 of 2018. Final overall survival results for
15 IMvigor130 are anticipated this time next year.

16 Now, let's turn our attention to the current
17 treatment landscape for frontline metastatic
18 urothelial cancer. Urothelial cancer is the 10th
19 most common cancer worldwide. This is a deadly
20 cancer with a very poor five-year survival rate of
21 only approximately 5 percent. Cisplatin-based
22 chemotherapy is the preferred platinum in

1 metastatic bladder cancer.

2 Patients with bladder cancer are generally
3 diagnosed at an advanced stage with most patients
4 having multiple comorbidities. Unfortunately, only
5 about 50 percent of patients are eligible to
6 receive the recommended cisplatin-based
7 chemotherapy. There are limited options for
8 patients who cannot receive cisplatin, and even
9 fewer options to patients who are determined unfit
10 for chemotherapy. NCCN guidelines recommend
11 atezolizumab as the preferred treatment option in
12 PD-L1 high cisplatin-ineligible patients.

13 Seen here, this slide breaks down patients
14 into two separate groups: cisplatin eligible and
15 cisplatin ineligible. Since 1978, cisplatin has
16 become the treatment choice for patients diagnosed
17 with advanced bladder cancer. Patients are
18 evaluated to determine their ability to tolerate
19 cisplatin chemotherapy, which is a clinical
20 decision.

21 Guidelines have been published to assist
22 providers, and this evaluation includes the

1 evaluation of performance status, renal function,
2 and evidence of neuropathy. Carboplatin, although
3 inferior, can be given as an alternative to
4 cisplatin, and it is given in some patients that
5 even may be eligible for cisplatin.

6 For nearly 40 years, platinum-based
7 chemotherapy was the only option. Today, patients
8 who respond to chemotherapy and patients who
9 cancers have not progressed after 4 cycles of
10 platinum-based therapy are eligible to receive a
11 avelumab as maintenance therapy. Avelumab is
12 indicated in patients that have received benefit
13 from chemotherapy.

14 We all know not all patients are good
15 candidates for chemotherapy and not all patients
16 tolerate chemotherapy. Looking at the yellow
17 boxes, in 2017, both atezolizumab and pembrolizumab
18 were given accelerated approval in the
19 cisplatin-ineligible patient populations. Both of
20 these indications were later modified and now
21 restrict this option to only PD-L1 high patients.

22 These remain the only chemo-free options and

1 the preferred treatment options based on current
2 guidelines. Atezolizumab remains important to both
3 patients and providers. The National Comprehensive
4 Cancer Network assists providers with published
5 guidelines. These have been updated as recently as
6 February of this year.

7 Seen here, a patient's treatment
8 recommendations are broken down by cisplatin
9 eligible and cisplatin ineligible, seen on the
10 left-hand side. Again, comorbidities often limit
11 patients from receiving the preferred platinum
12 chemotherapy agent. As such, only about half of
13 patients are able to receive cisplatin. Testing
14 for PD-L1 expression is important in patients who
15 are deemed ineligible for cisplatin, allowing both
16 patients and providers to consider chemo-free
17 options. Atezolizumab is recommended as the
18 preferred option in these patients.

19 Now, let's turn our attention to the data
20 produced using atezolizumab in the frontline
21 setting, and we will focus our attention on the
22 PD-L1 high patients.

1 We have sponsored two pivotal trials that
2 were performed in treatment-naïve metastatic
3 urothelial cancer. IMvigor210 was performed with a
4 registrational intent. The results from IMvigor210
5 in both overall response rate and duration of
6 response led to the FDA granting accelerated
7 approval. IMvigor130 was performed as a
8 confirmatory study.

9 IMvigor210 was a phase 2, single-arm study,
10 enrolling patients into two separate cohorts. We
11 will focus our attention on Cohort 1, and these are
12 the blue boxes. Cohort 1 enrolled 119
13 cisplatin-ineligible patients, regardless of PD-L1
14 status, in untreated metastatic bladder cancer.
15 All patients received single-agent atezolizumab,
16 and the primary endpoint of this study was overall
17 response rate assessed by an independent review
18 facility.

19 IMvigor210 showed a clinical meaningful
20 overall response and duration response. IMvigor210
21 showed a response rate of 23.5 percent in the
22 intention-to-treat population [inaudible - audio

1 gap].

2 (Pause.)

3 DR. HOFFMAN: I think we're having some
4 technical difficulties.

5 Dr. Carter, we've lost you.

6 DR. FUCHS: Dr. Carter, can you hear us?

7 (No response.)

8 DR. CARTER: Hello? Can you hear me?

9 DR. FUCHS: We can now. You cut out,
10 Dr. Carter.

11 DR. CARTER: Okay. Sorry about that.

12 DR. FUCHS: Perhaps just back up your
13 slides.

14 DR. CARTER: Alright.

15 We'll start on slide 15. I apologize for
16 that.

17 We see IMvigora210 showed a clinical
18 meaningful overall response rate and duration of
19 response. IMvigora210 showed a response rate of
20 23.5 percent in the intention-to-treat population,
21 but more importantly, the response rate was
22 28.1 percent in the PD-L1 positive patients;

1 67.9 percent of the responders had an ongoing
2 response at two years.

3 These early and positive results in response
4 rate in both the PD-L1 positive patient population
5 and the intention-to-treat population, coupled with
6 the unmet need, led to the first-line setting of
7 our confirmatory trial of IMvigor130.

8 I do feel very fortunate to report the
9 impressive median duration of response of almost
10 5 years. This type of response is clearly life
11 changing for patients.

12 Shown here, we see the Kaplan-Meier curve
13 for the independent review facility duration of
14 response, demonstrating the median duration of
15 response of the 5 years. Previous reports have
16 shown the median duration of response was
17 non-evaluable.

18 Now we will focus on IMvigor130, which is
19 our designated conversion study. IMvigor130 is a
20 randomized, 3-arm, phase 3 trial. It enrolled
21 newly diagnosed metastatic bladder cancer patients.
22 This is a robust study that enrolled over 1200

1 patients.

2 Arm A studied the addition of atezolizumab
3 to standard chemotherapy, Arm B enrolled patients
4 into single-agent atezolizumab, and Arm C was a
5 placebo-controlled arm added to chemotherapy. This
6 trial was written with dual primary endpoints shown
7 in the far right-hand side of the slide.

8 Investigator assessed progression-free
9 survival between Arms A and C are the addition of
10 atezolizumab to chemotherapy as compared to
11 chemotherapy, and overall survival in both the
12 addition of atezolizumab compared to chemotherapy,
13 and then the monotherapy atezolizumab compared to
14 chemotherapy.

15 We will look at both the completed and
16 pending analysis. I would like to draw your
17 attention to the column on the left. The first
18 primary endpoint was progression-free survival,
19 which has been met. Again, this compares the
20 addition of atezolizumab to chemotherapy, to
21 standard chemotherapy assessed by the investigator,
22 and the progression-free survival showed a hazard

1 ratio of 0.82 and a one-sided p-value of 0.007.

2 Now looking at the column on the right, we
3 will look at overall survival. Overall survival
4 was tested in a hierarchical fashion as shown on
5 the slide. The second primary endpoint is overall
6 survival, comparing the addition of atezolizumab to
7 chemotherapy. This is still ongoing. The second
8 interim analysis showed a hazard ratio of 0.84 with
9 a 95 confidence interval from 0.71 to 1. These
10 results are event-driven and are expected to read
11 out next year.

12 Moving down the column on the left, the next
13 analysis is to compare atezolizumab monotherapy to
14 chemotherapy. There has been a consistent positive
15 trend in overall survival in the PD-L1 high
16 patients.

17 Let's first look at the primary analysis
18 data, comparing the addition of atezolizumab to
19 chemotherapy as compared to chemotherapy. The
20 comparisons are highlighted in the gold boxes.
21 Here we see the Kaplan-Meier curve for
22 progression-free survival. The blue line

1 represents patients receiving atezolizumab added to
2 chemotherapy and the gray line represents patients
3 receiving only chemotherapy.

4 The median for progression-free survival was
5 increased by 2 months when atezolizumab was added
6 to chemotherapy with a hazard ratio of 0.82 and a
7 p-value of 0.007. The separation in the curve
8 starts at around 6 months, and as you can see, the
9 separation is both consistent and maintained.

10 Here we see the Kaplan-Meier curves for
11 overall survival, comparing the addition of
12 atezolizumab to chemotherapy versus chemotherapy in
13 the intention-to-treat population. The first part
14 of the curves lay on top of each other, and then at
15 around 9 months you start to see separation. The
16 separation is maintained into the tails of the
17 curve.

18 The addition of atezolizumab to chemotherapy
19 showed a hazard ratio of 0.84 and a p-value of
20 0.026. The clinical cutoff date was June 2020 with
21 the median follow-up of 13.3 months. This study is
22 ongoing, and the final results will be performed

1 once prespecified events have been reached.

2 Now I'd like to present the most recent
3 monotherapy data from the second interim analysis.
4 This data was just presented a few weeks ago in an
5 oral presentation by Dr. Ian Davis at the American
6 Association for Cancer Research annual meeting.
7 Looking back at the design of the study, we will
8 focus our discussion on the monotherapy data from
9 this point on. We will look at Arm B for the
10 monotherapy atezolizumab, and we'll compare that to
11 the chemotherapy arm highlighted, again, in the
12 gold box.

13 Here are the planned overall survival
14 analyses for the monotherapy comparison, and again
15 we've highlighted them in the yellow box for these.
16 Here we see the Kaplan-Meier curve for overall
17 survival in the intention-to-treat population,
18 comparing atezolizumab monotherapy to chemotherapy.
19 Again, the blue line represents atezolizumab
20 monotherapy and the gray line represents patients
21 receiving chemotherapy.

22 The Kaplan-Meier curve is similar to other

1 published overall survival curves in patients with
2 treatment-naïve aggressive cancers in which a
3 single-agent checkpoint inhibitor is given in an
4 unselected patient population, and it is compared
5 to chemotherapy.

6 In the early part of the curves,
7 chemotherapy appeared to show benefit over
8 atezolizumab. The largest separation occurs around
9 4 months. The curves then come back together and
10 the curves cross at approximately 9 months. From
11 this point on, we now see the atezolizumab group of
12 patients appearing to do better than the patients
13 receiving chemotherapy. This patient appears to
14 continue throughout the tail of the curves.

15 The median improvement in survival was
16 2 months with a hazard ratio of 1. This curve and,
17 in general, the phenomenon, has led to further
18 studies and a better understanding of treatment in
19 unselected patients. Our goal is always to
20 determine which patients benefit the most from our
21 chosen therapy.

22 We will now look at a side-by-side

1 comparison of the same patients, but this time we
2 will separate the patients by PD-L1 status. PD-L1
3 low are patients with tumors that express less than
4 5 percent of a PD-L1 protein, on the left side, and
5 PD-L1 high are patients who express greater than
6 5 percent, on the right-hand side curves.

7 The panel on the left, the PD-L1 low
8 subgroup, shows curves that have a very similar
9 shape to the ITT curves we just looked at, with
10 chemotherapy demonstrating early benefit over
11 atezolizumab. Again, the largest separation of
12 curves occurs around 4 months, and then the curves
13 come back together with a clear crossing at around
14 10 months. The median improvement in survival is
15 only about one month, and this population has a
16 hazard ratio of 1.05.

17 Now I'd like to focus your attention on the
18 PD-L1 high patients, and I would like to make this
19 curve easier for everyone to see; so in the next
20 slide we will see just the left-handed Kaplan-Meier
21 curve.

22 The Kaplan-Meier curve for the PD-L1 high

1 patients shows no difference between the two groups
2 in the first 3 months. The curves lay directly on
3 top of each other, then at approximately 5 months,
4 you see separation of the curves with the
5 atezolizumab patients showing improvement over
6 chemotherapy.

7 The median improvement in overall survival
8 is approximately 10 months with a hazard ratio of
9 0.67. Importantly, these curves also flatten out
10 and show continued benefit with time. Patients
11 with PD-L1 high expression are predictive of a
12 response to atezolizumab in this setting.

13 In an attempt to give the panel a complete
14 picture, we will further look at an exploratory
15 subgroup of patients. These are patients enrolled
16 that our most consistent with our current label,
17 patients that are both ineligible for cisplatin,
18 based on a patient's laboratory data and
19 comorbidities, and tumors that express high PD-L1.

20 Here we see a similar beginning at the
21 curves, and then further separation of the curves
22 with time. These curves show a hazard ratio of 0.6

1 and a limited number of patients.

2 The key takeaways from the efficacy data,
3 data from both the IMvigora210 and the IMvigora130,
4 continue to show benefit of atezolizumab as
5 monotherapy in the frontline setting. Both trials
6 have shown clinical meaningful data in both overall
7 response and duration of response.

8 IMvigora210 shows a median duration of
9 response of almost 5 years. IMvigora130 has met its
10 first primary endpoint, showing improvement in
11 progression-free survival when atezolizumab is
12 added to chemotherapy, with the final overall
13 survival still not having crossed a prespecified
14 boundary.

15 Both interim analyses have shown a positive
16 trend in both overall survival in the PD-L1 high
17 patients receiving atezolizumab, including
18 cisplatin-ineligible patients. The PD-L1
19 biomarker, SP142, selects patients most likely to
20 benefit from this therapy. The totality of the
21 data supports the continued benefit of atezolizumab
22 to this patient population.

1 Now let's take a look at the safety of
2 atezolizumab, which is well-characterized, and we
3 will particularly focus on the safety results of
4 the IMvigora130 trial.

5 The safety profile of atezolizumab is well
6 characterized and based on an extensive clinical
7 development program, which has included over
8 18,000 patients of different clinical trials. Our
9 postmarketing experience is in over 100,000
10 patients of which 24,000 of those patients are
11 patients who had bladder cancer. Common adverse
12 side effects include fatigue, cough, dyspnea and
13 decreased appetite.

14 Looking at monotherapy atezolizumab adverse
15 events, we see they are similar across the two
16 trials and are consistent with the monotherapy
17 pooled data from the USPI. Atezolizumab shows
18 fewer grade 3 and 4 events as compared to
19 chemotherapy.

20 Importantly, you have almost half as many of
21 the number grade 3 or 4 events as compared to
22 chemotherapy, and adverse events leading to

1 discontinuation of therapy were markedly lower in
2 the atezolizumab group. Deaths or grade 5 events
3 were relatively consistent across both IMvigor
4 trials, as well as the chemotherapy arm. You may
5 note there is a slight increase in deaths in the
6 IMvigor130 monotherapy arm, however, these were
7 determined not to be treatment-related deaths and
8 appeared to be driven by the patients'
9 comorbidities.

10 Here we see a tornado plot showing the most
11 frequent adverse events by preferred term for the
12 IMvigor130 trial. The atezolizumab monotherapy arm
13 is shown in blue and the chemotherapy arm is shown
14 in gray. The lighter shades of gray are grades 1
15 and 2 and the darker shades are grades 3 through 5.

16 This plot allows us to see atezolizumab has
17 a lower incidence of all toxicities, occurring
18 greater than 10 percent compared to chemotherapy.
19 Importantly, atezolizumab has fewer hematological
20 toxicities. As an oncologist, the reduction in
21 toxicities is very important for patients to allow
22 them to continue on therapy that they are

1 benefiting from.

2 We can also look at the adverse events of
3 special interest or events known to be associated
4 with either an anti-PD-1 or anti-PD-L1 inhibitor.
5 Looking at adverse events of special interest, the
6 majority were low grade and appeared to be
7 consistent across both trials. The events leading
8 to withdrawal of treatment were also consistent
9 across all subjects with the expected slight
10 increase in the events leading to use of steroids
11 in the atezolizumab cohorts. Importantly, no new
12 safety concerns associated with adverse events of
13 special interest have been identified.

14 Now let's look at a tornado plot showing
15 adverse events of interest. Particularly, you want
16 to look at the immune-mediated events, and these
17 are grouped by medical diagnosis or concept.
18 Notably, these events will be captured in patients
19 receiving both chemotherapy and monotherapy
20 atezolizumab; the etiology may be very different.

21 We see the increases in immune-mediated
22 events as compared to chemotherapy. Rash is noted

1 to be greater in the patients receiving
2 chemotherapy, with the majority being low grades 1
3 and 2. You see atezolizumab having higher rates of
4 immune hepatitis, although they are grades 1 and 2,
5 and an increase in both hypothyroidism and
6 hyperthyroidism. Overall, the majority of
7 immune-mediated events were quite low.

8 The safety of atezolizumab is well defined
9 in bladder cancer in the first-line setting and it
10 favors a positive benefit-risk ratio. No new
11 safety profiles have been identified and most
12 adverse events are well managed. We do see
13 substantial benefit in the safety profile of
14 atezolizumab monotherapy over chemotherapy.

15 The data we have generated to date allows us
16 to continue to support patients with metastatic
17 urothelial cancer in the frontline setting. The
18 landscape for first-line metastatic urothelial
19 cancer in patients who cannot tolerate cisplatin
20 chemotherapy has seen few changes, with the only
21 new change being patients who actually received
22 benefit from chemotherapy. The need for the

1 chemotherapy-free option remains.

2 Single-agent atezolizumab received
3 accelerated approval based on overall response rate
4 and duration of response with an improved and
5 manageable safety profile over chemotherapy.
6 Currently, the confirmatory trial of IMvigor130
7 studying patients in a frontline setting is
8 ongoing. The trial has continued to show clinical
9 benefit in the PD-L1 high patient population and
10 the final overall survival results are expected
11 next year.

12 The overall benefit-risk has remained
13 favorable and is unchanged. Data generated to date
14 we believe meets [indiscernible] accelerated
15 approval for atezolizumab in the frontline setting
16 for metastatic urothelial cancer, and this should
17 be maintained, especially while the confirmatory
18 trial of IMvigor130 reads out.

19 Genentech remains committed to providing
20 this therapy [indiscernible] for both patients and
21 their providers. I want to thank the panelists for
22 their attention, and I'd like to turn it back over

1 to Dr. Fuchs.

2 DR. FUCHS: Dr. Carter, thank you.

3 Dr. Hoffman, this concludes our
4 presentation, and we welcome answering your
5 questions during that Q&A session.

6 DR. HOFFMAN: Okay. Thank you.

7 We'll now proceed with the FDA presentation
8 from Dr. Amiri-Kordestani.

9 DR. AMIRI-KORDESTANI: Thank you,
10 Dr. Hoffman.

11 **FDA Presentation - Laleh Amiri-Kordestani**

12 DR. AMIRI-KORDESTANI: Good afternoon,
13 Chairman and members of the committee. My name is
14 Laleh Amiri-Kordestani. I am a hematologist/
15 oncologist at the FDA. I will present FDA's
16 perspective on the accelerated approval of
17 atezolizumab for the first-line treatment of
18 patients with urothelial carcinoma who are not
19 eligible for cisplatin therapy that was submitted
20 by Genentech, who I will refer to as the applicant
21 for the rest of the presentation.

22 Here is the outline of my presentation. I

1 will first summarize the key FDA concerns with this
2 accelerated approval. Then I will describe the
3 regulatory history of the initial approval, and I
4 will discuss the results of the trial that was
5 designated to confirm the benefit of atezolizumab
6 and other trials that have not demonstrated the
7 benefit of atezolizumab in urothelial carcinoma.

8 I will then review the evolving landscape of
9 treatment of patients with urothelial carcinoma,
10 and I will conclude my presentation with a voting
11 question.

12 Should the indication for the first-line
13 treatment of cisplatin-ineligible patients with
14 advanced or metastatic urothelial carcinoma be
15 maintained pending final overall survival results
16 from IMvigora130?

17 The key FDA concerns with this accelerated
18 approval are the following. First, the FDA does
19 not consider that atezolizumab's clinical benefit
20 for the treatment of patients with cisplatin
21 ineligible metastatic urothelial carcinoma has been
22 adequately confirmed by the designated trial

1 IMvigor130.

2 Additionally, the clinical benefit of
3 atezolizumab has not been shown for treatment of
4 patients with urothelial carcinoma in an adjuvant
5 setting and a second-line metastatic setting.
6 Finally, the treatment landscape of patients with
7 urothelial carcinoma is evolving with the approval
8 of avelumab maintenance therapy. Therefore, the
9 role of atezolizumab is unclear.

10 In April 2017, atezolizumab received
11 accelerated approval for the treatment of patients
12 with previously untreated locally-advanced or
13 metastatic urothelial carcinoma who were not
14 eligible for cisplatin. Based on the external data
15 monitoring committee's findings of a decrease in
16 overall survival, the first-line indication was
17 restricted. Later, the results of the designated
18 confirmatory randomized trial, IMvigor130, were
19 reported.

20 The results from Cohort 1 of IMvigor210 were
21 the basis of the initial accelerated approval.
22 This trial was a phase 2, global, 2-cohort,

1 single-arm study. Cohort 1 enrolled 119 patients
2 who were not eligible for cisplatin therapy and had
3 locally-advanced or metastatic urothelial
4 carcinoma.

5 The primary endpoint was overall response
6 rate. Duration of response was the secondary
7 endpoint. The efficacy results of Cohort 1 are
8 shown here. The overall response rate was 23.5 in
9 the all-comers population and 28.1 percent in
10 patients with PD-L1 high tumors.

11 As Dr. Beaver presented earlier, to receive
12 an accelerated approval, the drug product should
13 also provide meaningful therapeutic benefit over
14 that of existing therapies, meaning over therapies
15 that are approved under regular approval. At the
16 time, there were no approved agents for this
17 patient population, however, various chemotherapies
18 were used off-label in this disease setting that
19 led to slightly higher but short-lived responses.

20 Basically, the review of IMvigor130, not
21 shown on this slide, also did not identify new
22 safety signals, and safety was acceptable for this

1 patient population. Although the overall response
2 rate of 23.5 percent was modestly [indiscernible]
3 lower than the reported for chemotherapy used off-
4 label in this disease setting, the FDA considered
5 the longer duration of follow-up, the longer
6 duration of response, in combination with an
7 alternative toxicity profile, to constitute a
8 favorable benefit-risk for an accelerated approval
9 based on the available therapy at the time of
10 approval. Based on these results, atezolizumab
11 received and accelerated approval.

12 As you heard earlier from Dr. Beaver's talk,
13 a confirmatory trial may be required to confirm the
14 drug's clinical benefit. At the time of this
15 accelerated approval, IMvigor130 was an ongoing
16 trial. This trial was designated as the
17 confirmatory trial to confirm the benefit of
18 atezolizumab.

19 This trial was evaluating atezolizumab
20 either alone or in combination with platinum-based
21 chemotherapy compared with chemotherapy alone.
22 Patients who had not received prior systemic

1 therapy in the metastatic setting and were
2 ineligible for any platinum-based chemotherapy were
3 enrolled in the trial. The co-primary efficacy
4 endpoints were investigator assessed
5 progression-free survival and overall survival.

6 The external data monitoring committee's
7 early review found that patients who had PD-L1 low
8 tumors in the monotherapy arm of the IMvigor130
9 trial and another similar clinical trial,
10 KEYNOTE-361 that was evaluating the efficacy of
11 pembrolizumab in a similar patient population, had
12 decreased survival compared to patients who
13 received cisplatin- or carboplatin-based
14 chemotherapy. Both studies were amended and
15 stopped enrolling patients whose tumors had PD-L1
16 low status to the immunotherapy/monotherapy arm per
17 the DMC's recommendation.

18 These results led to the restriction of the
19 accelerated approval indication of atezolizumab in
20 June 2018 for the population of cisplatin-
21 ineligible patients without PD-L1 high tumors.
22 Given the limited alternative effective therapies

1 for patients considered not eligible for both
2 cisplatin and carboplatin, the accelerated approval
3 indication for this population was maintained
4 regardless of the PD-L1 status.

5 Subsequently, the primary efficacy results
6 of IMvigor130 were reported. The final analyses of
7 progression-free survival demonstrated a
8 statistically significant improvement for the
9 atezolizumab plus chemotherapy arm compared to the
10 placebo plus chemotherapy arm. But in the context
11 of an add-on therapy design, the FDA does not
12 consider 1.9 months improvement in PFS to be
13 clinically meaningful, particularly given the
14 assessment interval of approximately every 2 months
15 during the first year after randomization.

16 The concurrent first interim analysis of
17 overall survival and the second interim overall
18 survival analysis conducted in August 2020, with
19 85 percent overall survival events, also did not
20 cross the interim efficacy boundary. The final
21 analysis of overall survival is expected in 2022.

22 Now I will review other key trials that have

1 been conducted in urothelial carcinoma with
2 atezolizumab. In the post-platinum, second-line
3 setting, the results of Cohort 2 of the IMvigor210
4 trial formed the basis of initial accelerated
5 approval of atezolizumab for this population. This
6 accelerated approval was also based on durable
7 response rate. However, the designated
8 postmarketing confirmatory study, IMvigor211,
9 failed to provide the confirmatory evidence of
10 clinical benefit. Therefore, the post-platinum,
11 second-line indication for atezolizumab was
12 recently withdrawn from the market.

13 In the adjuvant setting, study IMvigor010
14 was a randomized study of either atezolizumab or
15 observation, and enrolled patients with high-risk,
16 muscle-invasive urothelial carcinoma, and included
17 a subpopulation of cisplatin-ineligible patients.
18 The primary endpoint was investigator-assessed,
19 disease-free survival in the ITT population. At
20 the final analysis for DFS, no benefit was observed
21 for patients randomized to the atezolizumab arm.

22 In summary, given the totality of the data,

1 the clinical benefit of atezolizumab for treatment
2 of patients with urothelial carcinoma remains to be
3 shown.

4 Earlier you heard from Dr. Beaver's talk
5 that an important factor for consideration for an
6 accelerated approval is having an unmet medical
7 need and that the efficacy of the drug compares to
8 available therapy. If a trial has not confirmed a
9 benefit, before additional confirmatory trials are
10 considered, the current unmet medical need and
11 drugs that constitute available therapies should be
12 assessed to determine if the conditions for
13 accelerated approval still exist.

14 While there were limited effective treatment
15 options available for cisplatin-ineligible patients
16 at the time of the FDA approval of atezolizumab in
17 2017, each patient may now be treated with
18 gemcitabine plus carboplatin, followed by avelumab
19 maintenance therapy in those without disease
20 progression after chemotherapy, as avelumab
21 maintenance therapy received regular approval in
22 2020 based on an overall survival benefit that was

1 shown for this regimen compared to chemotherapy
2 alone.

3 Approximately 40 percent of patients
4 enrolled in this study received prior gemcitabine
5 plus carboplatin. Most patients would be expected
6 to be eligible for avelumab maintenance following
7 first-line chemotherapy, and recent guidelines
8 recommend this regimen as one of the preferred
9 treatment options for these patients.

10 In conclusion, atezolizumab received
11 accelerated approval based on durable response rate
12 for first-line treatment of patients who are not
13 eligible for cisplatin. However, the confirmatory
14 trial has not yet verified the clinical benefit.
15 Furthermore, the benefit of atezolizumab has not
16 been demonstrated in the second-line metastatic and
17 adjuvant treatment setting.

18 Additionally, currently there is another
19 available treatment option, carboplatin plus
20 gemcitabine followed by avelumab maintenance
21 therapy, that has shown a survival benefit in this
22 patient population. However, FDA recognizes that

1 there is still an unmet medical need for treatment
2 of patients who are unfit for chemotherapy.

3 Given the benefit of atezolizumab is not yet
4 verified in the confirmatory trial in the same
5 disease setting; and the benefit was not verified
6 in the second-line metastatic setting and
7 indication was withdrawn; and the adjuvant trial
8 did not meet the primary endpoint; and the
9 treatment landscape has changed, FDA is asking the
10 advisory committee this question.

11 Should the indication for atezolizumab for
12 the first-line treatment of cisplatin-ineligible
13 patients with advanced or metastatic urothelial
14 carcinoma be maintained pending final overall
15 survival results from IMvigor130? Thank you.

16 **Clarifying Questions to Presenters**

17 DR. HOFFMAN: Thank you.

18 We will now take clarifying questions for
19 the presenters, both Genentech and the FDA. Please
20 use the raised-hand icon to indicate that you have
21 a question and remember to clear the icon after
22 you've asked your question. When acknowledged,

1 please remember to state your name for the record
2 before you speak and direct your question to a
3 specific presenter, if you can.

4 If you wish for a specific slide to be
5 displayed, please let us know the slide number, if
6 possible. Finally, it would be helpful to
7 acknowledge the end of your question with a thank
8 you and end of your follow-up question with, "That
9 is all for my questions," so we can move on to the
10 next panel member.

11 Dr. Halabi, you have a question?

12 (No response.)

13 DR. HOFFMAN: Dr. Halabi, are you on?

14 DR. HALABI: Yes. Hi. Susan Halabi. Thank
15 you, Dr. Hoffman.

16 First of all, I wanted to make one comment.
17 I'm a little bit perplexed by the fact that the
18 interim analysis results are presented, and I
19 wanted to hear comments from the sponsor regarding
20 DMC, what the DMC suggested with regards to
21 presenting data while the trial is being monitored,
22 because that will obviously jeopardize the conduct

1 and the final analysis of the study.

2 DR. FUCHS: Well, Dr. Halabi --

3 DR. HALABI: Yes?

4 DR. FUCHS: Sorry. Go ahead, please.

5 Should I answer now or should I wait for the
6 next part?

7 DR. HALABI: Yes. Go ahead. I'll wait.

8 Thank you.

9 DR. FUCHS: Of course, Dr. Halabi. This is
10 Charlie Fuchs. Those interim analyses were planned
11 as part of the prospective statistical analysis
12 plan for IMvigor130. But let me also just turn to
13 the statistician, Dr. Zheng, just to more
14 specifically answer your question about the
15 appropriateness of those interim analyses.

16 Dr. Zheng?

17 DR. ZHENG: This is Maoxia Zheng from
18 Genentech statistics. Regarding the question of
19 IDMC review, the IDMC, they do a periodical safety
20 review of the study while the study's ongoing. In
21 2018, I think starting with the March review, they
22 realized there was a problem with the atezolizumab

1 monotherapy in the PD-L1 low patient population.

2 So by that time, they informed our sponsor
3 with their recommendation to stop the enrollment of
4 the atezolizumab monotherapy arm for the PD-L1 high
5 patient population. So we took the IDMC
6 recommendation, also with the consultation with
7 FDA, and informed the study to change that.

8 Regarding whether there's an impact on the
9 study analysis, because the IDMC review is a
10 blinded review, the study sponsor is not
11 blinded -- is not unblinded at IDMC review, so
12 there's no impact on the study.

13 DR. FUCHS: But Dr. Zheng, to specifically
14 answer Dr. Halabi's question, those interim
15 analyses that we're sharing were formally
16 stipulated in the statistical analysis plan,
17 correct?

18 DR. ZHENG: Yes.

19 DR. FUCHS: Thank you.

20 DR. ZHENG: We prespecified the first and
21 the second interim analysis in the statistical
22 analysis plan.

1 DR. HALABI: Thank you. That wasn't,
2 really, my question because obviously that was
3 created in the statistical analysis plan. My
4 concern is more making the issue publicly available
5 because anyone can potentially compute the
6 conditional probability, and they can tell you most
7 likely what the result was going to be for the
8 overall survival.

9 So to me, it's more keeping the integrity of
10 the trial and, frankly, I'm surprised that the DMC
11 would allow that. But I'm going to move on to the
12 next question.

13 Can you clarify why there is more grade 5
14 toxicity on the atezo arm compared to the control?
15 I believe it was a 3 percent difference. Can you
16 comment on that, please?

17 DR. FUCHS: Of course. Let me turn to
18 Dr. Carter to review those events with you, within
19 IMvigor130.

20 Dr. Carter?

21 DR. CARTER: Hi. Thank you, Dr. Fuchs and
22 Dr. Halabi. I just want to be clear. Are you

1 talking about grade 5 events on the safety slide or
2 were you talking about the early potential or early
3 deaths?

4 DR. HALABI: Yes. I'm talking
5 specifically -- my concern has to do with the death
6 due to AE grade 5. That's what I'm referring to.
7 It seems there are more -- I believe this was
8 slide 33.

9 DR. CARTER: Yes. We can pull up slide 33;
10 yes, ma'am.

11 DR. HALABI: Maybe you can walk us through
12 why -- I mean, it sounded like there is some
13 discrepancy, but maybe I'm not understanding that
14 slide. So if you could comment on the death due to
15 AE and the treatment-related grade 5 AE, those two
16 rows, please.

17 DR. CARTER: Yes, and we can pull it up.
18 Here we have the -- if you can see the slide right
19 now -- IMvigor130.

20 DR. FUCHS: This is the slide you want, yes?

21 DR. CARTER: Yes, this is the slide I want,
22 and this is the one you were pointing out. And I

1 do think it's important to note that there was a
2 slight increase. And as any sponsor, and as we
3 should do -- and we do a very good job trying to
4 figure out what was the cause of disease.

5 In this, as you can imagine, there are
6 advanced comorbidities, and we did an extensive
7 evaluation. And all of those patients had deaths
8 due to their comorbidities. And those were mainly
9 cardiovascular deaths that we saw and those
10 increased in grade 5.

11 Thank you. Do you have any more questions?

12 DR. HALABI: Thank you. I'm fine. You've
13 answered my question.

14 DR. HOFFMAN: Okay. Dr. Madan?

15 DR. MADAN: Yes. I have a couple questions.
16 I guess the first question I'd like to ask -- if I
17 can clarify the question before us for the FDA; is
18 it appropriate to do that now or do I have to wait
19 until later?

20 DR. HOFFMAN: Probably later when we're
21 ready to vote, but -- well, I don't know what
22 you're going to ask, so I'm not sure.

1 DR. MADAN: I guess the voting question
2 seems to be based on the indication of atezolizumab
3 for first-line treatment of cisplatin-ineligible
4 patients with advanced or metastatic urothelial
5 carcinoma, based on the pending final results of
6 IMvigor130.

7 But do we mean to interpret that to take
8 into account the restricted accelerated approval
9 indication that the FDA presented on slide 12,
10 which brings up the PD-L1 status? Is that part of
11 our question?

12 DR. AMIRI-KORDESTANI: Dr. Madan, this is
13 Laleh Amiri from FDA. So the question is should
14 the current indication be maintained or not? The
15 question is for, basically, the current indication,
16 which is the restricted indication.

17 DR. MADAN: Okay, good. Thanks for
18 clarifying that. That does clarify one question I
19 had.

20 Then I have a question for the sponsor
21 regarding the subset analysis in those patients
22 with a high PD-L1, expressing tumors where the

1 benefit was demonstrated. This was slide 27 in
2 your presentation with about a little less than a
3 hundred patients.

4 Can you comment at all if this was a
5 prespecified endpoint and if there was any power to
6 evaluate for this finding?

7 DR. FUCHS: Can we bring up slide 27?

8 I think, Dr. Madan, you're referring to this
9 particular analysis by PD-L1 status, correct?

10 DR. MADAN: Correct.

11 DR. FUCHS: Yes. Let me turn to Dr. Zheng
12 to answer your question about the statistical
13 analysis plan around PD-L1 status.

14 DR. ZHENG: Maoxia Zheng from Genentech
15 statistics. Let's pull up core slide number 19,
16 which shows the hierarchical testing in the study.
17 You can see the overall survival on the right side
18 is tested in a hierarchical fashion.

19 We start on the top with atezolizumab versus
20 chemotherapy, so that's positive. We go to
21 atezolizumab monotherapy versus chemotherapy in the
22 ITT population, and at the bottom we'll test

1 atezolizumab monotherapy versus chemotherapy in the
2 PD-L1 high population.

3 So to your question, the testing between
4 atezolizumab monotherapy versus chemotherapy in the
5 PD-L1 high population, that's prespecified.

6 To your question in terms of whether this
7 testing is adequately powered at the design stage,
8 I have to say because this testing was added during
9 the study as a protocol [indiscernible], although
10 it's prespecified proper as a control, the power
11 was not adequately powered at that time.

12 Now, based on the results we observed so far
13 and back-calculated [indiscernible] the power, the
14 power with this sample size added a target ratio
15 around 0.67, as we observed, and the power is only
16 about 50 percent.

17 DR. FUCHS: Dr. Madan, it's probably worth
18 just pointing out -- that you may be aware -- that
19 IMvigor130 was originally designed as a 2-arm study
20 of chemotherapy with or without atezolizumab. The
21 monotherapy arm was added later, given the interest
22 in assessing that after obtaining the results of

1 the durable responses seen in IMvigora210.

2 DR. MADAN: Okay. That's very helpful.

3 Then one final point on this, since I'm not
4 a statistician, so I appreciate your guidance, the
5 hierarchical testing as I understand it is
6 something you analyze if the overall study is
7 positive. Am I understanding that correct?

8 DR. FUCHS: It's a stepwise fashion. So the
9 study is positive for progression-free survival, as
10 you saw, so that's positive. It then moves to
11 overall survival in the intention to treat. As you
12 saw, it's trending with a benefit in favor of
13 chemotherapy plus atezolizumab with the additional
14 data expected and final readout next year.

15 DR. MADAN: Okay. Thank you for taking my
16 question.

17 DR. AMIRI-KORDESTANI: Thank you. This is
18 Laleh Amiri from FDA. I would like to ask our
19 statistician, Dr. Li [ph], to actually comment as
20 well. Thank you.

21 (No response.)

22 DR. AMIRI-KORDESTANI: Dr. Li, are you

1 speaking? We can't hear you.

2 (No response.)

3 DR. AMIRI-KORDESTANI: Maybe Dr. Fiero will
4 comment.

5 DR. FIERO: Yes. Hi. This is Mallorie
6 Fiero, FDA statistics. The subgroup analyses that
7 we're talking about are considered to be
8 exploratory subgroup analyses and should be
9 interpreted with caution. This is because the
10 previous test for OS has not yet been found to be
11 statistically significant, so any analyses
12 performed thereafter are considered exploratory for
13 us and are difficult to interpret at this point
14 without further follow-up.

15 DR. HOFFMAN: Okay. Thank you.

16 Dr. Apolo?

17 DR. APOLO: Yes. Actually, my question was
18 in line with exactly what we're discussing right
19 now. Going back to slide 27 and interpreting the
20 results that were presented, the primary endpoint
21 was met with a PFS benefit. The OS benefit is
22 still an interim analysis. So it's really, I think

1 at this point, very difficult to conclude the
2 direction as to interpreting the subsequent
3 endpoint.

4 But looking at the slide in the PD-L1
5 positive patients, where the label is currently
6 indicated for, it's trending in the right
7 direction. I understand this is a subset analysis
8 having met the OS endpoint. So for the FDA -- and
9 this is any statistical comments on this -- if the
10 OS is not met, the PFS is met and the OS is not
11 met, can you interpret this even if you wait
12 another year for this arm that was added on later
13 with the monotherapy atezolizumab?

14 DR. FIERO: This is Mallorie Fiero, FDA
15 statistics. I think if OS is not found to be
16 statistically significant, then we essentially have
17 lost all of our alpha; all of our alpha is spent.

18 DR. APOLLO: For the chemo, chemo plus atezo
19 arm, right?

20 DR. FIERO: Yes, for the chemo plus atezo
21 arm. All of our alpha would be spent if it was not
22 found to be statistically significant, so we would

1 be concerned about making any false positive claims
2 after that. So we would be very careful to
3 interpret any analyses if OS did fail.

4 DR. APOLO: Yes. It's a difficult position
5 to be in, in general, because it's a separate
6 question. The combination of chemotherapy plus
7 atezolizumab is not the same question as
8 atezolizumab monotherapy in terms of the outcomes,
9 but I understand the way that the trial was
10 designed.

11 That was my first question, and my second
12 question is for Genentech. Is there a backup trial
13 in case it's difficult to interpret the final
14 results of the IMvigor130 data in a year? I know
15 there are a lot of trials ongoing that potentially
16 the data could be used as confirmatory for this
17 indication.

18 DR. FUCHS: Well, as you point out, we're
19 continuing to garner more data and conduct more
20 studies, looking at atezolizumab in urothelial
21 cancer. IMvigor130 is designated as our
22 confirmatory trial. The data set is not mature.

1 It has met its endpoint of progression-free, and
2 next year we'll have the final data on overall
3 survival.

4 I think when that data becomes available,
5 we're obviously committed to sharing that with the
6 FDA, with the scientific community, and with the
7 patient advisory community to really fully
8 understand the benefit of this agent in patients
9 with advanced urothelial cancer.

10 The data so far, we would suggest, show a
11 clinically meaningful response, durable response in
12 the first line that approaches five years, and we
13 are committed to following through with our
14 commitment on 130. And depending on those results,
15 we're open to working with the FDA on what
16 additional studies should be pursued.

17 DR. APOLO: Thank you. I have no further
18 questions.

19 DR. HOFFMAN: Okay. This Dr. Hoffman. I
20 apologize if I'm asking you to repeat something,
21 but on the 210 study, the one that is specifically
22 before us --

1 DR. BEAVER: Sorry. Dr. Hoffman?

2 DR. HOFFMAN: Yes?

3 DR. BEAVER: Sorry. Hi. This is Julia
4 Beaver from FDA. I just wanted to follow up on the
5 last question regarding if this trial does not
6 confirm benefit, the FDA's thinking on that, if
7 that would be ok.

8 DR. HOFFMAN: Yes.

9 DR. BEAVER: If the trial that we now have
10 as a potential confirmatory trial does not have a
11 favorable overall survival benefit, that would then
12 be the third trial in this setting that would not
13 have met either its endpoints or shown clinical
14 benefit. And at that point, I think the FDA would
15 not look favorably upon continuing the indication.

16 It's how many tries, you know, how many
17 bites of the apple, so to speak, we would give to
18 confirm benefit in the urothelial cancer space. So
19 I think that is something to keep in mind. I don't
20 know that we're asking for advice about alternative
21 trials, additional trials.

22 DR. APOLO:

1 DR. HOFFMAN: Thank you. That's helpful.

2 DR. FUCHS: Dr. Hoffman, can I just speak to
3 that as well? Perhaps I didn't understand the
4 question if specifically it -- Dr. Apolo and
5 perhaps your question as well -- is around the
6 trials that were conducted in second line.

7 Can I just briefly speak to those as well?

8 DR. HOFFMAN: Yes, please.

9 DR. FUCHS: Thank you.

10 As you are aware, IMvigor210 had two
11 cohorts. The one we've been emphasizing, speaking
12 to specifically today, is the first-line cohort,
13 but actually there was a second-line cohort as well
14 that was published; that is patients who had
15 progressed on first-line therapy.

16 In that cohort, the response rate in 210 was
17 14 percent with a median duration of response of
18 28 months, which led to the accelerated approval in
19 the second-line setting. IMvigor211, which I think
20 is the trial we're talking about, was a second-line
21 study; that is patients who had progressed on
22 frontline who were randomized to atezolizumab

1 monotherapy or second-line chemotherapy with a
2 primary endpoint of overall survival, which was not
3 technically a confirmation for the first line, but
4 was, we believe, an important study.

5 Let me just ask Dr. Carter to briefly share
6 the results of 211 with you.

7 Dr. Carter?

8 DR. CARTER: Thank you, Dr. Fuchs.

9 If I can get the slide pulled up on
10 IMvigora211 just so I can give everybody a complete
11 picture? IMvigora211 was a randomized, phase 3
12 trial, and it enrolled 931 patients, and it was our
13 designated PMR for the second-line setting.

14 Here we see the primary analysis of
15 IMvigora211, and the primary analysis was done in a
16 hierarchical design where PD-L1 was the first
17 overall survival we wanted to look at. Here we see
18 the hazard ratio of 0.87 and the p-value was 0.4.
19 This really was at the top of the hierarchy.

20 I think it's important for everybody to get
21 the good picture on it and to look at the next
22 slide, which is our intention to treat. Although

1 we weren't able to go down to the intention to
2 treat, we did see a numeric improvement in this as
3 well, overall survival of a hazard ratio of 0.82;
4 so really looking at more of an 18 percent benefit
5 over the standard chemotherapy there. Our response
6 rate in these patients, as Dr. Fuchs said, ranged
7 from 14 to 28 percent and 23 percent in the
8 atezolizumab population there.

9 Now, I'll turn it back to Dr. Fuchs.

10 DR. FUCHS: Thank you, Dr. Carter.

11 I think, as rightly stated, IMvigor211 did
12 not meet its stipulated endpoint. That said, if
13 you look at that Kaplan-Meier curve, if we could
14 return to it, I would suggest respectively that
15 it's a Kaplan-Meier curve that is somewhat
16 comparable to a separate checkpoint inhibitor in
17 the second-line setting and actually did result in
18 approval for the second line in 90 countries across
19 the globe.

20 That said, we fully respect the position of
21 the FDA on this. As well, we recognize in the
22 second line that the treatment landscape had

1 changed with a full approval of another checkpoint
2 inhibitor in second line, and as such, we did
3 voluntarily withdraw last month the atezolizumab in
4 second line. But we would still suggest that there
5 is a clinically meaningful benefit for atezolizumab
6 in the second line with a durable response.

7 DR. HOFFMAN: Okay. Thank you.

8 I was going to ask -- and apologies if I
9 missed it -- about the duration of response for the
10 responders in 210, the initial study. Could I just
11 see that again or that slide? It would have been
12 early on in the sponsor's section. I'm sorry. I
13 just wanted to know about that, about the response
14 rates.

15 DR. FUCHS: Of course. Is that slide 14 or
16 thereabouts, in the original deck?

17 DR. HOFFMAN: Okay. Alright. That answers
18 my question.

19 Next, Dr. Kraus?

20 DR. KRAUS: Yes. It's related to slide 19
21 and slide 22, but maybe 22 is the better one to put
22 up. It's the statistical hierarchy. It's not

1 slide 19, but put up slide 22.

2 The question is this, and it's really for
3 FDA and Dr. Fuchs and the sponsor to comment. I
4 wanted to make sure I get clarity. I'm
5 understanding this was the defined confirmatory
6 study. I'm understanding it's successful for
7 progression-free survival. I'm understanding that
8 the overall survival is not mature.

9 Kind of siding with Dr. Halabi's question
10 mark on why we're looking at interim data, often
11 you don't see interim data. You just proceed until
12 the final because that's when you have the event
13 maturity that you need. And we're not yet at final
14 maturity, which doesn't mean events in all
15 patients. It means events in a reasonable
16 proportion to have a solid answer.

17 There's a lot of discussion of what do we do
18 if this fails. In the trialism world, it's been
19 successful so far, and we're waiting for a year to
20 get the adequate information to make it through
21 assessment, rather than getting hypothetical about
22 what could happen if it's positive, what could

1 happen if it's negative.

2 So I just want to get confirmation that if
3 indeed -- this looks very promising, the
4 95th percentile confidence intervals with fewer
5 events and final, 1 is the top end of it. So it's
6 very possible this is positive.

7 So if this is positive, talking about it the
8 other way, I would anticipate that would confirm a
9 survival benefit in a positive trial, and then you
10 could sort it out from there. But I guess the
11 question would be, then do we believe it would have
12 confirmed in that case from this trial?

13 If that's the case -- the reason I ask it
14 this way is it's a very important industry
15 question. If you're going to start reconsidering
16 accelerated approval before a definitive trial is
17 read out, this is complicated.

18 So the question is, as we look at this, as
19 we go to confirmation, is this the one trial, to
20 the sponsor and FDA? And two, is it correct that
21 if indeed the OS is positive, this should confirm
22 the benefit of accelerated approval?

1 DR. FUCHS: Well, Dr. Kraus, I can certainly
2 start. As you point out, 130 is the stipulated
3 confirmatory trial for the current accelerated
4 approval indication, and we would hope that if we
5 confirm a significant survival benefit in the
6 chemotherapy plus atezolizumab arm, that would
7 potentially serve for confirmation.

8 In the history of accelerated approvals, as
9 you know, there have been circumstances where
10 approval for a monotherapy has ultimately been
11 confirmed by a combination, so there is precedent
12 for that.

13 DR. KRAUS: Thank you. And FDA?

14 DR. AMIRI-KORDESTANI: Yes. Thank you. I
15 want to, again, basically comment that while the
16 PFS was statistically significant, we don't view
17 this result to be meaningful, so we don't consider
18 the PFS benefit to confirm the benefit that is
19 needed, basically. So if the overall survival
20 result, the final overall survival result, turns
21 out to be negative, then, basically, we don't
22 consider this trial as a positive trial.

1 Another clarifying comment is that we
2 already have 85 percent of the events needed for
3 final overall survival data, however, you heard
4 that, basically, the final result is expected next
5 year.

6 So while these are interim analyses, very
7 commonly in trials they actually build these
8 analyses. They look at overall survival interim
9 analysis. We have in the past actually even
10 updated the drug label. For example, when interim
11 analysis results are positive, then that's
12 considered the final results. That's why they
13 build these analyses. So it's not yet positive, so
14 they need to look at the final result.

15 We have been following this closely because
16 we don't consider the PFS benefit to be considered
17 actually a positive or appropriate to confirm the
18 benefit, even though it is statistically
19 significant.

20 DR. KRAUS: Okay. I understand --

21 DR. AMIRI-KORDESTANI: If Dr. Beaver would
22 like to add any comment; or if you understand,

1 then --

2 DR. HOFFMAN: I think we've covered this
3 question sufficiently, if I may.

4 DR. AMIRI-KORDESTANI: Okay. Thank you.

5 DR. HOFFMAN: Dr. Siddiqui?

6 DR. SIDDIQUI: Thank you. My question is
7 for the FDA. Actually, can we put up -- I know
8 this is Genentech's slide -- slide 19? It helps me
9 to ask my question. I've been getting caught up on
10 understanding the dynamics of the statistics here,
11 too. It's kind of a two-part question.

12 First is, when the accelerated approval was
13 given and this plan was laid out for postmarket
14 requirement, and IMvigor130 was designated as the
15 trial that was going to be used to study the
16 efficacy, was the plan to examine atezo plus chemo
17 versus placebo, plus chemo overall survival
18 outcome, or at that point, was that when this atezo
19 monotherapy versus chemo was added on as the point
20 of relevance since the accelerated approval was for
21 atezo as a monotherapy?

22 Said another way, I'm just trying to

1 understand -- I'm getting kind of mixed up on the
2 fact that this was like a staged trial where
3 originally it was just a 2-arm trial, atezo/chemo
4 versus placebo/chemo, and then atezo monotherapy
5 was added on.

6 My question is, from the FDA's perspective
7 in terms of demonstrating efficacy, is the overall
8 survival of atezo/chemo versus placebo/chemo our
9 focus, or do we have to kind of follow this graph
10 all the way down to the last area, where atezo
11 monotherapy versus chemo and the primary OS of the
12 PD-L1 high patients is the OS of interest?

13 DR. AMIRI-KORDESTANI: Thank you for the
14 question. This is Laleh Amiri from FDA. Just to
15 clarify, for the postmarketing requirement of a
16 study, it doesn't need to exactly ask the question
17 about the benefit of the exact same indication. It
18 can have, for example, add-on design or monotherapy
19 replacement design.

20 So in order to accept the trial benefit or
21 confirming the benefit, it doesn't need to be
22 positive all the way to get basically the last

1 test. However, obviously if the trial fails to
2 show the benefit in atezo combination to chemo,
3 then the next test cannot be done. So then,
4 basically, we can't view those anymore.

5 I hope I'm addressing your question.

6 DR. SIDDIQUI: Yes.

7 Then the other question is, too, in order to
8 view the last outcome, primary OS, PD-L1 high, am I
9 correct in understanding that first you would need
10 the trial to demonstrate a positive result for the
11 co-primary OS outcome, and then you would require
12 it to demonstrate a positive result of the primary
13 OS outcome of atezo versus placebo; and only then
14 would you look at the PD-L1 high patient? Is that
15 typically how the analysis would go?

16 DR. AMIRI-KORDESTANI: This is Laleh Amiri
17 from FDA. This is how the sponsor had designed
18 their statistical analyses, so we are going by the
19 latest analysis plan. But the answer is yes, and I
20 guess that's how it is written, so we can't modify
21 it now. Yes.

22 DR. SIDDIQUI: Yes.

1 DR. AMIRI-KORDESTANI: But I can ask
2 Dr. Fiero or others from the FDA stats team if they
3 have any additional --

4 DR. FIERO: And just confirming -- this is
5 Mallorie Fiero, FDA statistics -- that we can only
6 formally look at that last hypothesis of OS for
7 atezo versus placebo plus chemo only if the two
8 outcomes proceeding it wins. So we do have to
9 follow the prespecified hierarchical procedure.

10 DR. SIDDIQUI: Okay. This is actually --

11 DR. FUCHS: It's Genentech.

12 DR. SIDDIQUI: Go ahead. Sorry.

13 DR. FUCHS: But, Dr. Siddiqui, if I could
14 just add, as I understand it, when the study was
15 2 arms, the chemotherapy plus the atezo arm was
16 considered as potential confirmation for the
17 accelerated approval; that is before the third arm
18 was added. So it was agreed upon at that time.

19 DR. SIDDIQUI: That's helpful. Thank you.

20 Then this is actually for Genentech, then,
21 also as follow-up. Based on the briefing material,
22 am I placing the numbers correctly? You labeled

1 positive trend in OS for the atezo/chemo versus
2 placebo/chemo for the interim analysis at 0.84.
3 Now, the same interim analysis for the primary OS
4 in the intention to treat, that was 0.99 at the
5 moment, right? Am I placing the numbers correctly
6 there?

7 DR. FUCHS: As you can see in this slide, we
8 met the first outcome for progression-free
9 survival, 0.82. The interim analysis of 0.84 is
10 around the chemo plus atezo versus chemo alone;
11 that's 0.84. The 0.99 that you're referring to is
12 actually the intention-to-treat atezo monotherapy
13 versus chemo; so no difference between atezo
14 monotherapy and placebo, which we haven't gotten to
15 because we're still waiting on the mature OS data
16 for atezo/chemo versus chemo alone.

17 Does that answer your question?

18 DR. SIDDIQUI: Yes, I think so. Thank you.
19 Those are all my questions.

20 DR. HOFFMAN: Alright. The final question,
21 Dr. Rettig, please?

22 DR. RETTIG: Thanks. Yes. This is Matt

1 Rettig. Please leave up that slide, that same
2 slide, slide 19. Can you bring up slide 19? Thank
3 you.

4 So the way I see this is that the co-primary
5 OS, the first OS event to be analyzed, if that's
6 positive, presumably that could lead to the
7 regulatory approval for the combination of
8 atezo/chemo in the first-line setting.

9 The question that we have been asked is
10 really a different question, which is related to
11 the monotherapy issue. I guess if you go to the
12 second OS analysis of atezo versus chemo, right now
13 the trend is that there's not really a difference,
14 and largely the numbers are going to be driven by
15 the PD-L1 low population, which is about
16 three-quarters of the population. I just did a
17 quick calculation on the back of the napkin there,
18 the back of the envelope.

19 So the question that we're being asked today
20 about continuing the accelerated approval for a
21 monotherapy doesn't seem like it's likely to be
22 addressed in this study, given what I've seen so

1 far on the data, the primary endpoint of overall
2 survival, the co-primary OS for the combination
3 atezo/chemo versus placebo/chemo, that may be met.
4 But it seems to me implausible that the next OS
5 analysis, atezo versus chemo, will be met given the
6 very high proportion of patients with PD-L1 low
7 tumors who have a detrimental effect of atezo
8 monotherapy.

9 So I was wondering if both the FDA and the
10 sponsor could comment on that.

11 DR. FUCHS: Who would you want to go first?

12 DR. AMIRI-KORDESTANI: I can start first.

13 This is Laleh Amiri from FDA. I'm sorry if I
14 actually didn't make it clear.

15 So if they win on the first test, which is
16 basically the add-on atezo to chemo versus chemo,
17 that confirms the benefit for us even in the
18 current indication, which is a monotherapy
19 indication.

20 So just to clarify, for the confirmation of
21 benefit, we don't always ask for a trial to be
22 exactly the same as the initial indication. It can

1 be an add-on design. It can be a replacement
2 design. It can be a different setting. As long as
3 it shows to isolate the effect and proves to be
4 beneficial, which they show an overall survival
5 benefit in this first test, that will confirm the
6 benefit. They don't need to win in the atezo
7 monotherapy versus chemo monotherapy to test the
8 last, basically, test.

9 DR. RETTIG: I see. I guess to clarify my
10 question, then, if the OS, the co-primary OS, is
11 met for the combination of atezo/chemo versus
12 placebo/chemo, and the subsequent analyses are not
13 met -- let's assume that for a second -- are you
14 saying that the approval would be for only the
15 combination of atezo and chemo, or would it also be
16 for atezo mono?

17 I think that's an important question
18 because --

19 DR. AMIRI-KORDESTANI: Sure.

20 DR. RETTIG: -- we're not being asked about
21 what would happen if the combination is positive;
22 we're being asked about the monotherapy today and

1 should that accelerated approval be continued at
2 this time.

3 DR. AMIRI-KORDESTANI: Thank you for your
4 question. So overall survival --

5 DR. PAZDUR: Hey. This is Dr. Pazdur.
6 Could I just butt in here, so to speak? You guys
7 are making this way too complicated.

8 If they win on the first box, the atezo plus
9 chemo versus placebo plus chemo, they get a new
10 indication and they win; they convert their
11 accelerated approval. The reason why we're
12 converting the accelerated approval on the first
13 box is because there's a precedence to convert on
14 the basis of an earlier trial design as well as in
15 combination chemotherapy.

16 So if they win on the first box, it's a
17 twofer. If they get a new indication and an
18 addition to that, they have their accelerated
19 approval converted.

20 Any other questions? I don't know how much
21 clearer I could make that.

22 DR. RETTIG: Well, that's helpful, because

1 that was never --

2 DR. PAZDUR: That's about as clear as I can
3 get it. You get a twofer here. You get a new
4 indication, and then in addition to that, you get
5 your accelerated approval converted.

6 End of discussion. Okay?

7 DR. FUCHS: Dr. Rettig, I know your question
8 was to both parties. Did that answer your
9 question? Because I did want to clarify one thing
10 that you mentioned.

11 Dr. Rettig?

12 DR. RETTIG: Yes. I'm happy to hear
13 your --

14 DR. FUCHS: No. You mentioned something
15 that I just wanted to clarify. This is on the
16 third box; that is the primary OSITT for atezo
17 monotherapy versus chemo, and you said the
18 detrimental survival.

19 Could you just bring up slide 26? The
20 hazard ratio, as you can see here, is 0.99, so
21 there's no difference between atezolizumab
22 monotherapy and chemotherapy with respect to

1 overall survival in an intention to treat. I just
2 wanted to clarify the characterization of
3 detrimental. And obviously the indication for
4 monotherapy is, as you know, in PD-L1 positives in
5 the cisplatin --

6 DR. RETTIG: Thanks for that clarification.
7 I guess I was thinking of the second line, yes, so
8 thank you for that. I have no further questions.
9 Thank you.

10 DR. HOFFMAN: Okay. Thank you very much.

11 We'll now take a 10-minute break. Why don't
12 we plan to reconvene at 2:45? Panel members,
13 please remember that there should be no discussion
14 of the meeting topic with anyone during the break.
15 So we'll resume at 2:45. Thank you.

16 (Whereupon, at 2:34 p.m., a recess was
17 taken.)

18 **Open Public Hearing**

19 DR. HOFFMAN: We will now begin the open
20 public hearing session.

21 Both the FDA and the public believe in a
22 transparent process for information gathering and

1 decision making. To ensure such transparency at
2 the open public hearing session of the advisory
3 committee meeting, FDA believes that it is
4 important to understand the context of an
5 individual's presentation.

6 For this reason, FDA encourages you, the
7 open public hearing speaker, at the beginning of
8 your written or oral statement to advise the
9 committee of any financial relationship that you
10 may have with the sponsor, its product, and if
11 known, its direct competitors. For example, this
12 financial information may include the sponsor's
13 payment of your travel, lodging, or other expenses
14 in connection with your participation in the
15 meeting.

16 Likewise, FDA encourages you at the
17 beginning of your statement to advise the committee
18 if you do not have any such financial
19 relationships. If you choose not to address this
20 issue of financial relationships at the beginning
21 of your statement, it will not preclude you from
22 speaking.

1 The FDA and this committee place great
2 importance in the open public hearing process. The
3 insights and comments provided can help the agency
4 and this committee in their consideration of the
5 issues before them.

6 That said, in many instances and for many
7 topics, there will be a variety of opinions. One
8 of our goals for today is for this open public
9 hearing to be conducted in a fair and open way
10 where every participant is listened to carefully
11 and treated with dignity, courtesy, and respect.
12 Therefore, please speak only when recognized by the
13 chairperson. Thank you for your cooperation.

14 Speaker number 1, your audio is connected
15 now. Will speaker number 1 begin and introduce
16 yourself? Please state your name and any
17 organization you are representing for the record.

18 DR. PAL: Hi. My name is Dr. Monty Pal,
19 Sumanta Pal. I'm a medical oncologist at the City
20 of Hope Comprehensive Cancer Center. I specialize
21 in kidney, bladder, and prostate cancer, and I've
22 been on faculty here for a total of 12 years now.

1 I have in the past participated in a number of
2 clinical trials, including several in the domain of
3 bladder cancer. In addition to that, I will
4 acknowledge that I've consulted with Roche, as well
5 as with Merck, in the past, although I've had no
6 consulting relationships with companies over the
7 past 12-month period.

8 With that in mind, I'll keep my comments
9 very brief. I wanted to really just offer some
10 direct insights into why I feel that the current
11 label for atezolizumab and cisplatin-ineligible
12 patients should be maintained. I'm going to try to
13 demonstrate this over the course of three
14 relatively simple slides here. I've been in
15 clinical all morning, so I apologize if this is
16 data that's already been reviewed in the context of
17 some of the previous discussions that have been
18 ongoing today.

19 This is one of the most recent updates from
20 the IMvigora130 study presented just several weeks
21 ago at the AACR annual meeting. I wanted to make
22 note of the fact that in this slide here, you see

1 the comparison of atezolizumab versus placebo with
2 platinum gemcitabine in patients who are PD-L1
3 high, and this of course is at the crux of what
4 we're discussing today.

5 I think that here the numbers are small but
6 still appreciable with between 85 to 90 patients on
7 each treatment arm. What you see here in my mind
8 is a very striking difference in terms of overall
9 survival with a hazard ratio of 0.67.

10 Admittedly because of the numbers, that
11 confidence interval crosses over a threshold of
12 1.0, but I would suggest that nonetheless this is a
13 meaningful difference. And I would suggest that,
14 again, for one like myself who see these patients
15 day to day in clinical practice, there's a
16 preponderance of individuals that we characterize
17 as platinum ineligible or cisplatin ineligible, who
18 are really borderline in terms of functional status
19 and performance status, and this represents a very,
20 very critical option for them.

21 I think what's really critical in this
22 discussion as well is the duration of response.

1 It's really impressive to see here the median
2 duration of response with atezolizumab 29 months.
3 What we don't often acknowledge is that when we
4 look at response rates, PFS, we don't acknowledge
5 the fact that amongst those individuals who garner
6 benefit, the benefit's very substantial. I can
7 attest to many patients who have cisplatin-eligible
8 disease receiving atezolizumab and doing well for
9 extended durations of time, often measured in
10 years, and I can assure you that this wouldn't be
11 the case with platinum-based chemotherapy.

12 This is a slide, again, that I think really
13 speaks for itself in terms of adverse events, which
14 you can see here is a significant difference
15 amongst those patients receiving chemotherapy
16 versus those individuals receiving atezolizumab, a
17 marked difference in terms of grade 3-4 adverse
18 events favoring atezolizumab monotherapy.

19 This really speaks to the importance of
20 quality of life within this population. Again,
21 this is a frail group, and I think that that really
22 needs to be taken into account. I appreciate the

1 opportunity to convey my thoughts to ODAC and happy
2 to entertain any questions later. Thank you.

3 DR. HOFFMAN: Thank you.

4 Speaker number 2, your audio is now
5 connected. Will speaker number 2 begin and
6 introduce yourself? Please state your name and any
7 organization you're representing for the record.

8 DR. CHISOLM: Hello. Thank you for the
9 opportunity to speak today. My name is Stephanie
10 Chisolm, and I'm the director of education and
11 research at the Bladder Cancer Advocacy Network or
12 BCAN. BCAN does receive some grant funding in
13 support of our programs from Genentech.

14 I know you realize that more than 17,000
15 people are expected to die this year from bladder
16 cancer, and prior to the initial approval of
17 checkpoint inhibitor medications, there have been
18 no new treatments approved for our community in
19 decades. There is a group of patients for whom
20 standard of care is either not effective or safe
21 because of other concerns. Obviously, you've heard
22 a lot about this. For patients with advanced

1 disease, there are few options, really, beyond
2 getting your affairs in order.

3 Behind all the statistics that are shared in
4 this form, I just want to remind you that for every
5 N that's discussed, there's a real person, a member
6 of our bladder cancer community, along with the
7 others that care about them, and that the
8 statistics are really just representing people with
9 the tears wiped away.

10 So we definitely encourage more exploration
11 into the benefit, but we do want to remind you that
12 these are all people. These individuals and
13 families need more options when standard of care
14 does not work or puts them at additional risk.
15 Patients want the opportunity to receive a
16 treatment that provides a durable response when
17 other treatment options are not open to them.

18 So on behalf of the bladder cancer
19 community, we want to emphasize the critical need
20 for the FDA to fully examine and approve all viable
21 options for treating and managing this devastating
22 disease. Thank you for your time.

1 DR. HOFFMAN: Okay. Thank you. I don't
2 think we have anything further.

3 The open public hearing portion of this
4 meeting has now concluded and we will no longer
5 take comments from the audience. We'll now take
6 any remaining clarifying questions for all the
7 presenters thus far.

8 Please use the raised-hand icon to indicate
9 that you have a question, and remember to put your
10 hand down after you've asked your question, and the
11 same recommendations as before.

12 Are there any other additional questions
13 that we didn't cover before the break?

14 (No response.)

15 **Questions to the Committee and Discussion**

16 DR. HOFFMAN: The committee will now turn
17 its attention to address the task at hand, the
18 careful consideration of the data before the
19 committee, as well as the public comments. We will
20 proceed with the questions to the committee and
21 panel discussion. I would like to remind public
22 observers that while this meeting is open for

1 public observation, public attendees may not
2 participate except at the specific request of the
3 panel.

4 Today's question is a voting question. The
5 question is, should the indication for
6 atezolizumab, for the first-line treatment of
7 cisplatin-ineligible patients with advanced or
8 metastatic urothelial carcinoma, be maintained
9 pending final overall survival results from
10 IMvigor130 trial?

11 Dr. Joyce Yu will provide instructions for
12 the voting.

13 DR. YU: Question 1 is a voting question.
14 Voting members will use the Adobe Connect platform
15 to submit their votes for this meeting. After the
16 chairperson has read the voting question into the
17 record and all questions and discussion regarding
18 the wording of the vote question are complete, the
19 chairperson will announce that voting will begin.

20 If you are a voting member, you will be
21 moved to a breakout room. A new display will
22 appear where you can submit your vote. There will

1 be no discussion in the breakout room. You should
2 select the radio button, that is the round circular
3 button, in the window [inaudible - audio gap] -- or
4 abstain. You should not leave the "no vote" choice
5 selected.

6 Please note that you do not need to submit
7 or send your vote. Again, you need only to select
8 the radio button to your vote. You will have the
9 opportunity to change your vote until the vote is
10 announced as closed. Once all voting members have
11 selected their vote, I will announce that the vote
12 is closed.

13 Next, the vote results will be displayed on
14 the screen. I will read the vote results from the
15 screen into the record. Next, the chairperson will
16 go down the roster and each voting member will
17 state their name and their vote into the record.
18 You can also say the reason why you voted as you
19 did, if you want to.

20 Are there any questions about the voting
21 process before we begin?

22 (No response.)

1 Mr. Mitchell, I think I saw your hand raised
2 for a moment. Did you have a question?

3 MR. MITCHELL: No, I don't have a question.

4 DR. YU: Okay. I'm sorry.

5 Dr. Hoffman?

6 DR. HOFFMAN: Okay.

7 If there are no questions or comments
8 concerning the wording of the question, we'll now
9 begin the voting on question 1. I read it a moment
10 ago.

11 DR. YU: Okay. Thank you.

12 We'll now move voting members into the
13 voting breakout room to vote only. There will be
14 no discussion in the voting breakout room.

15 (Voting.)

16 DR. YU: The voting has now closed and is
17 complete. The vote results are displayed. I will
18 read the vote totals into the record. The
19 chairperson will go down the list, and each voting
20 member will state their name and their vote into
21 the record. You can also state the reason why you
22 voted as you did, if you want to.

1 The vote is 10 yeses, 1 no, [inaudible -
2 audio gap] abstentions. Thank you.

3 DR. HOFFMAN: Just to repeat, because
4 Dr. Yu's sound is going in and out a little bit,
5 the vote was 10 yeses, 1 no, and no abstentions.

6 Dr. Madan, do you want to go first, please?

7 DR. MADAN: Yes. This is Ravi Madan,
8 National Cancer Institute. I voted yes, to wait
9 for the final results. I'm optimistic that the
10 data will continue to support the best care for our
11 patients with bladder cancer. Thank you.

12 DR. HOFFMAN: Dr. Siddiqui?

13 DR. SIDDIQUI: Yes. This is Mohummad
14 Siddiqui, and I voted yes as well. The interim
15 analysis on the primary overall survival endpoint
16 for IMvigor130 looks promising, so I felt it was
17 very reasonable to wait until the final analysis
18 comes out.

19 DR. HOFFMAN: Dr. Rettig?

20 DR. RETTIG: Yes. I also voted yes for some
21 of those same reasons. There's still potential for
22 there to be an overall survival benefit of the

1 combination.

2 I should point out I remain a little bit
3 confused because, according to what Dr. Pazdur
4 stated, if the primary overall survival analysis of
5 the combination is met, that is the combination is
6 better than chemo alone, then there will be an
7 approval for the combination as well as the
8 monotherapy, even if the monotherapy comparison
9 does not meet its endpoint.

10 So I remain befuddled by that, but I will
11 accept that as a fact.

12 DR. HOFFMAN: This is Dr. Hoffman. I voted
13 no. I realize that I may be a bit inconsistent
14 between the morning and the afternoon, but I found
15 compelling the FDA noting that while the
16 progression-free survival was a positive test, that
17 it may not necessarily be a meaningful difference.

18 But more importantly, I'm concerned about
19 the fact that a second-line trial was a negative
20 trial that led to the withdrawal of the drug from
21 that indication. It sort of goes against most
22 oncologic history where something shows benefit and

1 a later line, more advanced disease; then it's
2 moved progressively earlier to show that it's
3 equally effective or better. I was struck by the
4 fact that this is the opposite of that, so I voted
5 no.

6 Dr. Lieu?

7 DR. LIEU: This is Chris Lieu. I voted yes.
8 Like you've already heard, I certainly don't think
9 that the story of the 130 study is complete, and we
10 do need a final readout of the overall survival
11 outcomes.

12 To second what Dr. Hoffman has stated, I
13 think the results of the 211 and 01 studies in the
14 second-line setting and the adjuvant setting really
15 are troubling, and they certainly lend credence to
16 the concerns raised during the meeting.

17 I am intrigued by the hazard ratios seen
18 within the PD-L1 positive patients as well as the
19 cisplatin-ineligible patients, but of course these
20 numbers are rather small, with only half of the
21 events reached in those cohorts.

22 I honestly believe that continuation of this

1 approval should be contingent on the final overall
2 survival analyses when they're met in the next
3 several months to a year, and obviously indication
4 should be withdrawn if that primary endpoint is not
5 reached. Having said that, I do believe we should
6 allow the data to mature prior to making that
7 decision. Thank you.

8 DR. HOFFMAN: Thank you.

9 Dr. Sung?

10 DR. SUNG: I voted yes for the reasons many
11 of the other panelists gave. I would just suggest,
12 as with Dr. Lieu's comments, I'm very intrigued by
13 the different results in the PD-L1 high patients,
14 and I wonder if down the line that may serve to
15 help distinguish therapy choices.

16 DR. HOFFMAN: Thank you.

17 Ms. Johnston?

18 MS. JOHNSTON: Yes. Colette Johnston, and I
19 voted yes. I felt that the data did support that
20 continuing was in the best interest of the very
21 small patient population. They have so many
22 limited resources and so many limited options, and

1 I think this leaves open one option for the
2 clinicians and the patients. So I feel confident
3 with a vote of yes.

4 DR. HOFFMAN: Thank you.

5 Dr. Apolo?

6 DR. APOLO: Hi. I voted yes. My feeling
7 from looking at the data and the discussions today
8 is that atezolizumab is an active agent for
9 patients with metastatic bladder cancer, both in
10 the first-line setting and I would argue the
11 second-line setting, too.

12 The trial designs, several of the phase 3
13 trials that were discussed today, are complicated,
14 and they can be difficult to interpret because
15 although these may be positive in terms of numbers,
16 the studies may still be negative due to the
17 hierarchical design.

18 We await for the longer follow-up to assess
19 the benefit of atezo plus chemo versus chemo in the
20 first-line setting that will lead to approval of
21 the monotherapy atezo, and I think the interim
22 analysis of the monotherapy atezo looks promising.

1 There's also a small population of platinum
2 refractory patients that would lose this approval
3 if the indication is withdrawn. So as a treating
4 medical oncologist, I vote to keep atezolizumab
5 available as a treatment option for our patients
6 with urothelial carcinoma that are cisplatin
7 ineligible PD-L1 high and that are platinum
8 ineligible.

9 DR. HOFFMAN: Alright. Thank you.

10 Dr. Graff?

11 DR. GRAFF: Yes. I voted yes. We'll know
12 soon enough the results of the overall survival of
13 the phase 3 study, and there aren't enough harms to
14 really pull it and confuse patients and doctors.

15 DR. HOFFMAN: Dr. Halabi?

16 (No response.)

17 DR. HOFFMAN: Let's go to Mr. Mitchell, and
18 then we'll get back to Dr. Halabi.

19 MR. MITCHELL: I'm David Mitchell. I voted
20 yes. The data are very encouraging, and we don't
21 have to wait long to learn the results of the
22 130 trial.

1 DR. HOFFMAN: Thank you.

2 Dr. Halabi, are you on now?

3 DR. HALABI: Yes. Sorry about that.

4 Susan Halabi. I voted yes. As I stated
5 earlier, while I expect to see interim results from
6 the data monitoring committee, unfortunately now
7 the hazard ratio from the interim analysis for
8 overall survival is in the public domain, and there
9 is definitely some likelihood -- I would say more
10 than some likelihood -- for the results to be
11 positive, and one can never underplay the role of
12 chance. Thank you.

13 DR. HOFFMAN: Okay. Thank you.

14 Obviously, the committee felt overwhelmingly
15 optimistic that the final data on this trial will
16 pan out positively, and their votes reflect that.
17 I've already stated my slight reservations about
18 it.

19 I want to give a moment for Dr. Kraus, who
20 is a non-voting member of the committee but asked
21 for a moment to speak to the committee about
22 something important.

1 You want to go ahead, Dr. Kraus?

2 DR. KRAUS: Yes. Well, it wasn't as much
3 reference here as to when we have votes and then
4 talk about different confirmatory designs, and we
5 haven't been doing that separately. But here I
6 would say I'm encouraged by the vote to wait for
7 definitive data from the definitive trial to make
8 the decision.

9 I would say the complication that's being
10 noted is something we face heavily in oncology drug
11 development. A perfect example is eGFR agents. I
12 was involved with Erbitux colorectal cancer
13 approvals. We found out it was an active drug, and
14 we also found out it worked in half the patients,
15 not the other half some years later; and didn't
16 have the definitive data and had to kind of daisy
17 wheel back to restricting the indication to the
18 patients where it worked better, as we learned
19 more.

20 I think we may see more and more of this
21 with all the great workups that cancer patients are
22 getting because we do trials. And I mention it

1 here because the PD-L1 high versus not is kind of
2 the complicating factor, and that's complicated
3 designs. No one knew this going in. So designs
4 went a certain way, and then we see data and we
5 say, well we can't look at that data because of the
6 conclusions.

7 But the data is the data, but it's also true
8 statistically that you shouldn't look at it and
9 it's not in the hierarchy. But we get faced with
10 these tough questions, and this sounds like we're
11 going to have to weigh it out. So we're trying to
12 figure out how to design better, but hindsight's
13 always 20/20; it's sometimes hard. But that's all
14 I'll say.

15 Thank you, Dr. Hoffman.

16 **Adjournment**

17 DR. HOFFMAN: Alright. Thank you.

18 We will now adjourn the meeting, and we will
19 reconvene tomorrow, April 29th, 9 o'clock Eastern
20 time. Panel members, please remember that there
21 should be no chatting or discussion of the meeting
22 topics with other panel members.

1 Additionally, the panel members
2 participating in the first topic tomorrow should
3 plan to rejoin at 8:15 a.m. Eastern time to ensure
4 that you're connected before we reconvene at
5 9 o'clock. Thank you very much for your
6 participation this afternoon.

7 (Whereupon, at 3:12 p.m., the afternoon
8 session was adjourned.)

9
10
11
12
13
14
15
16
17
18
19
20
21
22