

**FOOD AND DRUG ADMINISTRATION (FDA)
Center for Biologics Evaluation and Research (CBER)
122nd Blood Products Advisory Committee (BPAC) Meeting**

OPEN SESSION

Web-Conference

November 4, 2021

This transcript appears as received from the commercial transcribing service after inclusion of minor corrections to typographical and factual errors recommended by the DFO.

ATTENDEES

COMMITTEE MEMBERS	
Richard Kaufman, M.D.	Brigham and Women's Hospital
Marc Ballow, M.D.	University of South Florida
Sridhar Basavaraju, M.D., FACEP	Centers for Disease Control and Prevention
Evan Bloch, M.D., M.S.	Johns Hopkins University School of Medicine
Melissa A. Cumming, M.S., CIC	Massachusetts Department of Public Health
Brenda J. Grossman, M.D., MPH	Barnes-Jewish Hospital
Marissa B. Marques, M.D.	University of Alabama at Birmingham
Elena Perez, M.D., Ph.D., F.A.A.A.A.I.	Allergy Associates of the Palm Beaches
Jeremy G. Perkins, M.D., FACP, COL, US Army (ret)	Walter Reed National Military Medical Center
Susan N. Rossman, M.D., Ph.D.	Gulf Coast Regional Blood Center
Amy Shapiro, M.D.	Indiana Hemophilia and Thrombosis Center, Inc.
Abdus Wahed, Ph.D.	University of Pittsburgh
TEMPORARY VOTING MEMBERS	
Judith Baker, DrPH, MHSA	Center for Inherited Blood Disorders
Alfred DeMaria, Jr., M.D.	Massachusetts Department of Public Health
Jack Stapleton, M.D.	University of Iowa
CBER/FDA PARTICIPANTS	
Peter Marks, M.D., Ph.D.	Food and Drug Administration
Celia Witten, Ph.D., M.D.	Food and Drug Administration
Karen Elkins, Ph.D.	Food and Drug Administration

CBER FDA/OTAT/ PARTICIPANTS/SPEAKERS	
Bryan Wilson, M.D.	Food and Drug Administration
Leila Hann, Ph.D.	Food and Drug Administration
Kimchi-Sarfaty, Chava, Ph.D.	Food and Drug Administration
Rachael F. Anatol, Ph.D.	Food and Drug Administration
Suzanne Epstein, Ph.D.	Food and Drug Administration
Basil Golding, M.D.	Food and Drug Administration
Mahmood Farshid, Ph.D.	Food and Drug Administration
Dorothy Scott, M.D.	Food and Drug Administration
CBER/FDA/OBRR PARTICIPANTS/SPEAKERS	
Nicole Verdun, M.D.	Food and Drug Administration
Jennifer Scharpf, M.P.H.	Food and Drug Administration
Orieji Illoh, M.D.	Food and Drug Administration
Anne Eder, M.D., Ph.D.	Food and Drug Administration
Chintamani Atreya, Ph.D.	Food and Drug Administration
Wendy Paul, M.D.	Food and Drug Administration
Jaroslav Vostal, M.D., Ph.D.	Food and Drug Administration
Peyton (John) Hobson, Ph.D.	Food and Drug Administration
Hira Nakhasi, Ph.D.	Food and Drug Administration
Sanjai Kumar, Ph.D.	Food and Drug Administration
FDA ADMINISTRATIVE STAFF	

Christina Vert, M.S.	Food and Drug Administration
Prabhakara Atreya, Ph.D.	Food and Drug Administration
Joanne Lipkind, M.S.	Food and Drug Administration
Kathleen Hayes, M.P.H.	Food and Drug Administration
Mr. Michael Kawczynski	Food and Drug Administration

TABLE OF CONTENTS

1	OPENING REMARKS: CALL TO ORDER AND WELCOME.....	6
2	ADMINISTRATIVE ANNOUNCEMENTS, ROLL CALL, AND CONFLICT OF INTEREST	
3	STATEMENT.....	8
4	OVERVIEW OF CBER RESEARCH PROGRAM.....	19
5	TOPIC I: OVERVIEW OF OTAT AND DPPT RESEARCH PROGRAMS.....	30
6	OVERVIEW OF PDB RESEARCH PROGRAMS.....	46
7	Q&A SESSION.....	68
8	OPEN PUBLIC HEARING.....	75
9	BREAK FOR TOPIC I CLOSED SESSION.....	75
10	TOPIC II: OVERVIEW OF OBRR AND DBCD RESEARCH PROGRAMS.....	76
11	OVERVIEW OF LCH RESEARCH PROGRAMS.....	89
12	Q&A SESSION.....	105
13	OPEN PUBLIC HEARING.....	108
14	BREAK FOR TOPIC II CLOSED SESSION.....	109
15	TOPIC III: OVERVIEW OF DETTD RESEARCH PROGRAMS.....	109
16	OVERVIEW OF LEP RESEARCH PROGRAMS.....	119
17	OPEN PUBLIC HEARING.....	139
18	BREAK FOR TOPIC III CLOSED SESSION.....	140
19	MEETING ADJOURNED AFTER CLOSED SESSION.....	140

1 **OPENING REMARKS: CALL TO ORDER AND WELCOME**

2

3 **MR. MICHAEL KAWCZYNSKI:** Good morning and
4 welcome to the 122nd meeting of the Blood Products
5 Advisory Committee, BPAC. I'm Mike Kawczynski, and I
6 will be help moderating today's meeting. Along with me
7 is Dr. Richard Kaufman, who is today's chair. Just
8 know periodically, you know, our job is to keep this
9 meeting live. We are live, so if at any times we have
10 any unexpected audio issues or any technical issues at
11 all, you may see me jump in and assist our members at
12 that time. So let's have a great meeting and, Dr.
13 Kaufman, are you ready to start?

14 **DR. RICHARD KAUFMAN:** Yes, I am. Thanks.

15 **MR. MICHAEL KAWCZYNSKI:** All right. And just
16 a reminder to all my members, let's make sure you keep
17 yourselves muted when you are not speaking so we don't
18 disrupt any of the others. So, all right, Dr. Kaufman,
19 take it away.

20 **DR. RICHARD KAUFMAN:** It's my pleasure to
21 welcome everyone to today's meeting. The members, both

1 returning members and we have some new members as well
2 to BPAC -- as well as our participants from FDA and
3 anyone from the public who may be viewing this
4 remotely. I would just like to remind the Committee
5 members and participants to use the raise your hand
6 feature on the system. So if you have a question or
7 comment to make -- and then I can call on you to speak.
8 So for today's meeting we will be reviewing three
9 research programs that take place within CBER.

10 So FDA's tasked with regulating a wide range
11 of different biomedical activities that are always
12 changing and advancing. And in order to complement the
13 regulatory work that they do, various offices within
14 CBER maintain basic and translational research programs
15 that are meant to align with their regulatory mission.
16 So, as I mentioned, we'll be reviewing three such
17 programs today, and each of these underwent detailed
18 external review earlier in the year. And so I would
19 like to at this time hand the meeting over to Christina
20 Vert for administrative announcements, rollcall, and
21 Conflict of Interest statement.

1

2 **ADMINISTRATIVE ANNOUNCEMENTS, ROLL CALL, AND CONFLICT**
3 **OF INTEREST STATEMENT**

4

5 **MS. CHRISTINA VERT:** Thank you, Dr. Kaufman.

6 My name is Christina Vert, and it is my pleasure to
7 serve as the Designated Federal Officer for today's
8 122nd BPAC Meeting. On behalf of the FDA, the Center
9 For Biologics Evaluation and Research, and the
10 Committee I would like to welcome everyone to today's
11 virtual meeting. The meeting for today will be to hear
12 an overview of the research programs of the Plasma
13 Derivatives Branch, Laboratory of Cellular Hematology,
14 and the Laboratory of Emerging Pathogens. Today's
15 meeting topic was described in the federal register
16 notice that was published on September 13th, 2021.

17 I would now like to acknowledge the
18 contribution of a few other members of the Division of
19 Scientific Advisors and Consultants, including our
20 director, Dr. Prabha Atreya, Ms. Joanne Lipkind, Ms.
21 Karen Thomas, and Ms. Kathleen Hayes who have assisted

1 in preparing for this meeting. I would also like to
2 express my many thanks to Mr. Mike Kawczynski for
3 facilitating the meeting today.

4 For any media or press-related questions, you
5 may contact FDA's Office of Media Affairs at
6 FDAOMA@FDA.hhs.gov. The transcriptionist for today's
7 meeting is Ms. Linda Giles and Ms. Erica Denham. We
8 will begin today's meeting by taking a roll call of the
9 Committee members. When it is your turn, please turn
10 on your video camera and unmute your phone, then state
11 your first and last name, your expertise, and your
12 organization. And when finished, please turn your
13 camera off, and we will proceed to the next person. We
14 will begin the roll call with the chair, Dr. Richard
15 Kaufman. Dr. Kaufman, please go ahead and introduce
16 yourself.

17 **DR. RICHARD KAUFMAN:** Hi, I'm Richard Kaufman.
18 I'm the medical director for the Transfusion Service at
19 the Brigham and Women's Hospital. And I'll be chairing
20 the BPAC Meeting today.

21 **MS. CHRISTINA VERT:** Thank you. Dr. Marc

1 Ballow.

2 **DR. MARC BALLOW:** Good morning. I'm Marc
3 Ballow. I'm at University of South Florida and also
4 Children's Hospital in St. Petersburg. I'm an
5 allergist, immunologist (audio skip) with a special
6 interest in immunoglobulin replacement products.

7 **MS. CHRISTINA VERT:** Thank you. Dr.
8 Basavaraju.

9 **DR. SRIDHAR BASAVARAJU:** Hi, I'm Sridhar
10 Basavaraju. I'm the Director of the CDC Office of
11 Blood, Organ, and Other Tissue Safety.

12 **MS. CHRISTINA VERT:** Thank you. Dr. Bloch.
13 Are you muted? That's great, go ahead.

14 **DR. EVAN BLOCH:** I'm Evan Bloch. I'm
15 Associate Director of Transfusion Medicine at Johns
16 Hopkins Hospital and Director of Apheresis.

17 **MS. CHRISTINA VERT:** Thank you.

18 **DR. EVAN BLOCH:** Thanks.

19 **MS. CHRISTINA VERT:** Dr. Cumming or Ms.
20 Cumming.

21 **MS. MELISSA CUMMING:** Good morning. My name's

1 Melissa Cumming. I am a senior epidemiologist with
2 Massachusetts Department of Public Health, and I
3 currently oversee our healthcare associated infections
4 and antibiotic resistance program along with our
5 hemovigilance program.

6 **MS. CHRISTINA VERT:** Thank you. Dr. Grossman.

7 **DR. BRENDA GROSSMAN:** Hi, I'm Brenda Grossman.
8 I'm the Medical Director of the transfusion service at
9 Barnes-Jewish Hospital, Washington University in St.
10 Louis.

11 **MS. CHRISTINA VERT:** Thank you. Dr. Marques.
12 Oh, you're muted. Oh, okay. Well, we'll move along.
13 Dr. Perez. That's okay.

14 **DR. ELENA PEREZ:** Hi, good morning. I'm Dr.
15 Elena Perez. I'm a clinical allergy and immunologist
16 with an interest in immune deficiency and
17 immunoglobulin products. Thank you.

18 **MS. CHRISTINA VERT:** Thank you. Dr. Perkins.

19 **DR. JEREMY PERKINS:** Allergist at Walter Reed

20 --

21 **MS. CHRISTINA VERT:** You're good.

1 **DR. JEREMY PERKINS:** Thank you for turning on
2 my microphone. Jeremy Perkins, I'm a
3 hematologist/oncologist at Walter Reed National
4 Military Medical Center. I'm the DOD representative of
5 this Committee with clinical focus and a lot of
6 experience on operational transfusion medicine.

7 **MS. CHRISTINA VERT:** Thank you. Dr. Rossman.
8 We can't hear you. Oh, you were muted.

9 **DR. SUSAN ROSSMAN:** Now?

10 **MS. CHRISTINA VERT:** That's good. Go ahead.

11 **DR. SUSAN ROSSMAN:** Okay. I'm the Chief
12 Medical Officer at Gulf Coast Regional Blood Center in
13 Houston and representing a variety of interested
14 industries related to BPAC. By training I'm a
15 pathologist with interest in transfusion medicine.

16 **MS. CHRISTINA VERT:** Thank you. Dr. Shapiro.
17 Go ahead.

18 **DR. AMY SHAPIRO:** I'm Amy Shapiro. I'm a
19 pediatric hematologist. I'm CEO and Medical Director
20 of the Indiana Hemophilia and Thrombosis Center. My
21 interest and background is in clinical hemostasis and

1 thrombosis.

2 **MS. CHRISTINA VERT:** Thank you. Dr. Wahed.

3 **DR. ABDUS WAHED:** Hello, this is Abdus Wahed.

4 I am a biostatistician. I am an associate in the
5 biostatistics department at the University of
6 Pittsburgh.

7 **MS. CHRISTINA VERT:** Thank you. All right.

8 Does anyone else want to do roll call? Okay. But
9 let's go to the TVMs, yes. Dr. Baker.

10 **DR. JUDITH BAKER:** Hi, good morning. My name
11 is Judith Baker. I'm the Director of Public Health
12 with the Center for Inherited Blood Disorders in
13 Orange, California. I'm serving as the consumer
14 representative for this meeting, and my areas of
15 expertise are public health matters as they relate to
16 rare blood disorders.

17 **MS. CHRISTINA VERT:** Thank you. Dr. DeMaria.

18 **DR. ALFRED DEMARIA, JR.:** Good morning. I'm
19 Al DeMaria, formerly state epidemiologist and medical
20 director of the Bureau of Infectious Disease and
21 Laboratory Science at the Massachusetts Department of

1 Public Health, currently Medical and Laboratory
2 Consultant. And my background is in infectious disease
3 and public health.

4 **MS. CHRISTINA VERT:** Thank you. Dr.
5 Stapleton.

6 **DR. JACK STAPLETON:** Hi, I'm Jack Stapleton.
7 I'm a professor of internal medicine, infectious
8 diseases, and microbiology and immunology at the
9 University of Iowa. My area of work is clinical HIV
10 and virology of several virus now, now including SARS-
11 CoV-2.

12 **MS. CHRISTINA VERT:** Thank you. Thank you for
13 your introductions. I would now like to acknowledge
14 CBER leadership and management, including Dr. Marks,
15 Dr. Witten, Dr. Elkins, Dr. Bryan, Dr. Anatol, Dr.
16 Epstein, Dr. Kimchi-Sarfaty, Dr. Golding, Dr. Farshid,
17 Dr. Verdun, Dr. Eder, and Dr. Chintamani Atreya, Dr.
18 Illoh, Dr. Paul, Dr. Nakhasi, and Dr. Hobson, some of
19 whom will be joining the meeting later today and others
20 who will be providing overview presentations shortly.

21 Before we begin with reading the Conflict of

1 Interest statement, I would just like to briefly
2 mention a few housekeeping items related to today's
3 virtual meeting format. For member speakers, FDA
4 staff, and anyone else joining us in the Adobe Room,
5 please keep yourself on mute unless you are speaking to
6 minimize feedback. If you have raised your hand and
7 are called upon to speak by our chair, Dr. Kaufman,
8 please speak slowly and clearly so that your comments
9 are accurately recorded for transcription and
10 captioning. I will now proceed with the Conflict of
11 Interest statement. Thank you.

12 The Food and Drug Administration is convening
13 virtually today, November 4th, 2021, for the 122nd
14 Meeting of the Blood Products Advisory Committee under
15 the authority of the Federal Advisory Committee Act,
16 FACA, of 1972. Welcome to the November 4th, 2021,
17 Meeting of the Blood Products Advisory Committee, BPAC.
18 The BPAC Committee will meet in open session to hear an
19 overview and updates of three research programs. Topic
20 I will be an overview of the Research Programs of the
21 Plasma Derivatives Branch in the Division of Plasma

1 Protein Therapeutics in the Office of Tissues and
2 Advanced Therapies in the Center for Biologics
3 Evaluation and Research.

4 Topic II will be an overview of the Research
5 Programs of the Laboratory of Cellular Hematology in
6 the Division of Blood Components and Devices in the
7 Office of Blood Research and Review, Center for
8 Biologics Evaluation and Research. Topic III will be
9 an overview of the Research Programs of the Laboratory
10 of Emerging Pathogens in the Division of Emerging and
11 Transfusion Transmitted Diseases in the Office of Blood
12 Research and Review, again, in the Center for Biologics
13 Evaluation and Research.

14 Per Agency guidance, these topics are
15 determined to be non-particular matters which would
16 have no input on the outside financial interests.
17 Hence, no effected firms are identified, and members
18 are not screened for this topic. Today's meeting will
19 also have three closed sessions from 11:30 a.m. to
20 12:20 p.m., from 2:10 to 3:00 p.m., and from 4:30 p.m.
21 to 5:20 p.m. Eastern Time to permit discussions where

1 disclosure would constitute a clearly unwarranted
2 invasion of personal privacy under (5 U.S.C.
3 552b(c)6)).

4 Dr. Richard Kaufman is serving as the chair
5 for both the open and closed sessions for this meeting.
6 The following information on the status of this
7 Advisory Committee's compliance with federal Ethics and
8 Conflict of Interest laws including, but not limited
9 to, 18 U.S. Code §208 is being provided to participants
10 at this meeting and to the public. With the exception
11 of the industry representative, all participants of the
12 Committee are either Special Government Employees
13 (SGEs) or Regular Federal Government Employees
14 (RGEs) from other agencies and are subject to the
15 federal Conflict of Interest laws and regulations.

16 Given that Topic I, II, and III of this
17 meeting are determined to be Non-Particular Matters it
18 has also been determined that the overview and updates
19 of this meeting present no actual or appearance of
20 financial conflicts of interest. Dr. Susan Rossman is
21 currently serving as the Industry representative to

1 this Committee. Dr. Rossman is employed by the Gulf
2 Coast Regional Blood Center. Industry representatives
3 act on behalf of all related industry and bring general
4 industry perspective to the Committee. Industry
5 representatives are not special government employees
6 and do not vote and do not participate in the closed
7 sessions.

8 Today, we have three consultants serving as
9 temporary voting members: Dr. Judith Baker, Dr. Alfred
10 DeMaria, Jr., and Dr. Jack Stapleton. Dr. Judith Baker
11 is serving as a temporary voting member, as well as
12 acting consumer representative for this Committee.
13 Temporary voting members and consumer representatives
14 are appointed special government employees and are
15 screened and cleared prior to their participation.
16 They are voting members of the Committee and, hence, do
17 have voting privileges, and they do participate in the
18 closed sessions.

19 FDA encourages all meeting participants,
20 members, or consultants, including open public hearing
21 speakers, to advise the DFO and this Committee if they

1 realize they have any financial, professional, or
2 regulatory relationships with any of the topics or
3 individuals being discussed today that were not
4 previously disclosed and recuse themselves from
5 Committee discussions, and their absence will be noted
6 for the record. This concludes my reading of the open
7 session Conflict of Interest statement for the public
8 record. At this time, I would like to hand over the
9 meeting to Dr. Kaufman. Thank you.

10

11

OVERVIEW OF CBER RESEARCH PROGRAM

12

13 **DR. RICHARD KAUFMAN:** Thanks Christina. So
14 it's my pleasure to introduce Dr. Karen Elkins, who's
15 Associate Director for Science at FDA. Dr. Elkins, I
16 have to remind you to turn on your camera and unmute
17 your phone.

18

19

DR. KAREN ELKINS: Right. Can you hear me

20

21

MR. MICHAEL KAWCZYNSKI: Yes, we can. Take

it away.

1 **DR. KAREN ELKINS:** All righty. And I'll
2 preface this by saying I have a notice from OITM that
3 my computer has to restart, so I'm going to hope it'll
4 give me 20 minutes on this side. But if I disappear,
5 there you have it. So, on behalf of the Center, I'd
6 like to welcome all of you to today's meeting and
7 especially for your ongoing service on this Advisory
8 Committee, which is critical to all of our operations.
9 Today's is particularly of interest in relationship to
10 the research program, and several of you served, of
11 course, on the site visits themselves that are the
12 subject of today's meeting. But we are particularly
13 grateful for your time and energy on that. I'd like to
14 give you a brief overview of our research program in
15 general to provide you with some context for today's
16 deliberations. I will focus on the big picture at the
17 center level, and then, my colleagues will also give
18 you further information on the particular units that
19 are the subject of today's review.

20 So CBER is responsible for regulating
21 biological products. They are complex. There is a

1 formal definition in law of a biological product, but
2 in general, this means that we are responsible for
3 oversight of vaccines, both preventative and
4 therapeutic; allergenic products; live biotherapeutic
5 products; xenotransplantation products, a suite of
6 cell, gene, and tissue therapies; and, of course, the
7 topic that's near and dear to this groups heart, blood
8 and blood products. And that, in turn, requires a
9 large amount of expertise. The word cloud is drawn
10 from some of the key words of the disciplines in which
11 our scientists were trained. And they are all related
12 to the -- directly related to the products that we
13 regulate.

14 CBER recently released a new strategic plan.
15 Conducting research is one of our explicit goals,
16 specifically to address challenges in the development
17 and regulatory evaluation of our biological products.
18 And we do business by having the so-called researcher-
19 reviewer model. We have about 75 PIs in the Center
20 that director laboratory groups that look like the
21 format and approach that you are probably familiar

1 with. They propose investigator-initiated research,
2 but those programs are not just anything. They are not
3 that strictly investigator-initiated. They are in
4 topics that are related directly to the products that
5 the investigators in question regulate.

6 And so that gives us a menu of research
7 programs that look from -- range from something you
8 might describe as relatively basic research to very
9 targeted studies that are directly tied to our
10 regulated products. We think this way of doing
11 business ensures that the people performing regulatory
12 review and research have direct hands-on understanding
13 of the techniques that are the source of data we see in
14 regulatory decisions, that it ensures an effective,
15 efficient, and credible review, and that, in general,
16 it fosters decisions based on sound science. So our
17 research and activity -- research and review activities
18 are integrally linked.

19 And, specifically, our laboratory scientists,
20 who are the subject of today's review, participate
21 directly in regulatory review teams. Most of our lab-

1 based people are responsible for the product review.
2 In the business this is often described as CMC or
3 chemistry, manufacturing, and control reviewer. Our
4 folks look directly at the scientific rationale and
5 evaluate any data that is provided in proof-of-concept
6 to support entry into human trials.

7 But they focus especially on the product
8 itself. That means the production techniques, the
9 manufacturing techniques, and the end product and its
10 quality control testing. Obviously, most QC testing is
11 laboratory-based, and laboratory-based expertise is
12 important in that.

13 If there are clinical samples obtained in the
14 course of the trial and those are assessed in a
15 laboratory technique, the product reviewers also
16 evaluate the quality of the clinical assays. And I
17 tell you all this to make the point that up to 50
18 percent of time from the laboratory-based staff is
19 spent in regulatory review. The amount of time spent
20 in regulatory review varies with specific subject
21 matter expertise, with the seniority and level of

1 training of individual people, and, of course, with the
2 workload related to what sponsors submit to us. But
3 the usual description for an established PI is that up
4 to 50 percent of their time will be devoted to
5 regulatory review, relatively less to the more junior
6 staff members.

7 Product reviewers function in the context of
8 an overall team. And that is typically comprised of
9 other people like a project manager, who oversees the
10 entire operation, a pharm/tox reviewer, a clinical
11 reviewer who focuses on the clinical trial design, and
12 on resulting clinical data as the studies progress.
13 And a statistical reviewer who analyzes all of the
14 numbers. So we think this approach means that
15 throughout the development of a product and its
16 lifecycle, from its very start to the challenges it
17 presents to its progression through clinical trials,
18 and in the licensure is informed by science and
19 scientific activity's.

20 You are not today at our White Oak facility.
21 In normal times you would have been. On the campus in

1 Silver Spring, we have about 450,000 square feet of lab
2 space, over 150 labs ranging from BSL-1 to BSL-3, and
3 about 500 research staff at the moment. On our campus
4 we have the luxury of several core facilities including
5 ones for flow cytometry, for imaging, for
6 bioinformatics computing, and for molecular biology, as
7 well as a state-of-the-art vivarium.

8 Our researchers are integrated into the larger
9 scientific community in a number of ways. Most of our
10 external collaborations, as you might expect, are with
11 academia and with other government agencies, including
12 other parts of FDA. But we do have in some examples,
13 direct collaboration with regulated industry. As you
14 might expect, those have rather careful oversight and
15 management for conflict of interest.

16 Our external collaborations often result in
17 formal agreements, such as CRADAs, contracts. We do
18 have some external grant funding, and intellectual
19 property activities, and, particularly in the COVID
20 days, a whopper number of material transfer agreements.
21 We think our research program has a number of benefits.

1 It prepares us for future challenges, and it
2 facilitates the recruitment and retention of highly
3 trained scientists who have direct expertise. We are
4 living those two bullets during the current COVID-19
5 pandemic because we have a cadre of virologists,
6 immunologists, those with expertise in convalescent
7 plasma and the like, ready to go when the pandemic hit.

8 In some cases, the research efforts directly
9 developed data and tools that support the development
10 of classes of products and fills knowledge gaps that
11 inform our policy development and our regulatory
12 decision-making. Our research programs are evaluated
13 in a number of different ways. We have an extensive
14 annual report process in which investigators provide a
15 number of -- a lot of information about the progress of
16 their research, and that is reviewed by all the
17 relevant supervisory levels annually. We have a formal
18 horizon scanning process that takes place about every
19 four years on a rotating basis for each product area in
20 which office staff and Center staff look forward and
21 try to anticipate what is coming down the pike in needs

1 of product development. New projects that are proposed
2 for addition to the portfolio are specifically reviewed
3 intramurally at the office in the center level before
4 they are initiated -- and then, the topic of today's
5 discussions, our external subject matter expert
6 committee-based reviews in the form of site visits.

7 If you're familiar with the NIH site visit,
8 this was originally modeled along those lines. And
9 those are intended to take place every four years. The
10 timeline has slipped a little bit with the pandemic,
11 like a lot of timelines, but the intent is that these
12 are periodically reviewed for quality. The criteria
13 for evaluation include mission relevance. We
14 understand that you may not be familiar with the
15 details of the product development side, but we are
16 sure that you can judge the relevance of the work in a
17 general and useful way.

18 Like everybody, we consider the productivity
19 and dissemination of research results in terms of
20 presentations, publications, and occasionally tech
21 transfer agreements. Probably the main criteria is the

1 scientific impact of our research efforts, that is
2 whether it is taken up by the scientific community, is
3 useful to the scientific community, and is important to
4 our regulated stakeholders. The unique aspects of the
5 regulatory mission and practice part of it, we don't
6 expect you to be down in the weeds with, and that is
7 primarily reviewed internally.

8 The site visit is a one- or two-day gathering
9 in which staff provide presentations, take questions,
10 and have one-on-one interviews with the subject matter
11 Committee members. And that results in a report, and
12 you have a draft of that report that has been
13 distributed to the full Advisory Committee that is
14 associated with the subject matter. And so your
15 outcomes for today are three-fold: to take that report
16 and accept it as-is, to request amendments, or to
17 reject it and send it back to the site visit team for
18 further work.

19 When it is at the stage of being -- of nearing
20 approval, you will vote on that approval. And once it
21 is approved, the finalized approved report is used in a

1 number of ways. The feedback goes back directly to
2 individual PIs, and I assure you that they take your
3 constructive criticism to heart to improve their
4 research program.

5 Supervisors at all levels review the report
6 and have further discussions on the program's progress
7 and new directions. And up and down the chain, the
8 report is considered during resource allocations in
9 terms of internal budget space and people. So, with
10 that, I'm happy to answer any questions, but mostly I
11 want to thank you for your work. This is a critical
12 part of reviewing our research programs and integral to
13 maintaining the quality of our research program and
14 fulfilling our regulatory missions. Thank you so much.
15 And what else can I tell you?

16 **DR. RICHARD KAUFMAN:** Thanks, Dr. Elkins. Any
17 questions from the group?

18 **DR. KAREN ELKINS:** And it's not your last
19 chance. I and all my colleagues are available to you
20 through the day. And, as I mentioned, the
21 organizational units that are closer to the subject of

1 today's reviews will also be providing further
2 information.

3 **DR. RICHARD KAUFMAN:** Okay. Well, I'm seeing
4 no hands going up, so --

5 **DR. KAREN ELKINS:** All right.

6 **DR. RICHARD KAUFMAN:** -- I think we're good
7 for now. Thank you.

8 **DR. KAREN ELKINS:** Thank you all.

9

10 **TOPIC I: OVERVIEW OF OTAT AND DPPT RESEARCH PROGRAMS**

11

12 **DR. RICHARD KAUFMAN:** All right. So our next
13 speaker will be Dr. Basil Golding, who's Director of
14 the Division of Plasma Protein Therapeutics, of OTAT,
15 and FDA. So, Dr. Golding.

16 **DR. BASIL GOLDING:** Good morning and welcome,
17 and I just want to add my thanks to that of Dr. Elkins
18 for the work done by the site visit group that came and
19 spent a lot of time with us and prepared this report
20 and also, to the Advisory Committee for spending this
21 time today to review that. So my task today is to

1 present an overview of the Office of Tissues and
2 Advanced Therapies and the Division of Plasma Protein
3 Therapeutics. Next slide. So, first of all, an
4 overview of the Office of Tissues and Advanced
5 Therapeutics. As you can see from the slide, the
6 office director is Dr. Wilson Bryan. His deputy is
7 Rachael Anatol. And you can see there are five
8 divisions. The two divisions on the left are the DMC
9 divisions that review manufacturing.

10 So the first division on the left, Division of
11 Cellular and Gene Therapy, is headed by Dr. Raj Puri.
12 And the next division over is my division, Division of
13 Plasma Protein Therapeutics. And the division in the
14 middle is the Division of Clinical Evaluation and
15 Pharmacology and Toxicology.

16 The next division is the Division of Human
17 Tissues, and the one on the right is the Division of
18 Regulatory Product Management. Sorry, I meant to
19 mention Division of Human Tissue is headed by Dr. Scott
20 Bruebaker and then the Division of Regulatory Project
21 Management headed by Ramani Sista. That division is

1 involved with administration and making sure that all
2 the admissions are signed property and reviewed in a
3 timely way and that communications with the industry go
4 out in an expeditious way.

5 So you'll also notice on the slide that there
6 are two rectangles. Those are the -- the two
7 rectangles include the research branches. So in the
8 Division of Cellular and Gene Therapy there are three
9 research branches and in my division two research
10 branches. And the Plasma Derivatives Branch is the one
11 that was being looked at today for its site visit
12 report. Next slide, please.

13 So what products do we review? So this is
14 OTAT products, excluding the products in my division.
15 So the OTAT products includes stem cell and stem cell-
16 derived products, thermally-differentiated cell
17 therapies, and therapeutic vaccines and other antigen-
18 specific active immunotherapies. Next slide, please.

19 Here we have gene therapies. We have
20 xenotransplantation products, tissues and tissue-based
21 products, and some devices and combination products, a

1 lot of them involved with delivery of cells and
2 tissues. Next slide, please. Just to give you a
3 flavor of the workload in OTAT, so this is for all the
4 divisions, including my division. So you see the
5 number of INDs on this slide gradually increasing since
6 1963. But as you get closer to today, you see an
7 exponential increase with a tripling from 2017 to 2019.
8 I guess it's 2020. Next slide, please.

9 So these are the recent BLA approvals. As you
10 can imagine, an actual license approval is a huge
11 amount of work and is important, obviously, to getting
12 products to the market. So these are approvals in the
13 last year and up to October this year.

14 The top one is SEVENFACT. That's a
15 recombinant factor VIIa approved in my division. And
16 the next three are CAR T cells directed against B cell
17 marker CD19 and the B cell maturation antigen expressed
18 on myeloma cells. So those were approved in the other
19 division -- Dr. Puri's division. RYPLAZIM, plasminogen
20 was also approved in our division. It's a plasma-
21 derived product. And then we have these more recent

1 ones, STRATAGRAFT, which is human skin, treatment of
2 burns and the RETHYMIC, which was human thymic tissues
3 for treatment of athymic infants. Next slide, please.

4 To just give you an idea also of BLA
5 supplements in 2020 and this year, so the 2020 and
6 until October 2021, separated by this forward slash --
7 so you see the numbers there: 15 and 3, 28/16, but most
8 of the supplements were actually manufacturing
9 supplements -- a huge number. And the reason for this
10 primarily is we have a large number of products in DPPT
11 alone. We have over 100 products that have been
12 approved. Next slide, please.

13 So these are device applications in the same
14 period. And you can see the numbers there of original
15 applications, 510(k)s, IDEs, and then IDE Amendments.
16 Again, the bulk of the work is in the amendments for
17 already approved devices. And you also see information
18 about PMA supplements, HDE supplements, and Qsub
19 submissions. Next slide, please.

20 Getting into the research or regulatory
21 science, OTAT has 22 laboratories. There were 31

1 publications in 2020, 40 plus external conference
2 presentations, and we've developed 7 COVID-related
3 research projects. Next slide, please. Now I'm
4 switching to my division, the Division of Plasma
5 Protein Therapeutics. Next slide.

6 This is the organization of my division. On
7 the left you can see I'm the director. Underneath me
8 is Mahmood Farshid, the deputy director. And then one
9 column over you see the two branch chiefs, Dr. Timothy
10 Lee with his PIs on the right. He has four PIs. And
11 Dr. Scott underneath him, she is the branch chief, and
12 she'll be presenting, right, more detail the research
13 of the plasma derivatives branch. And that branch has
14 three PIs.

15 Underneath that is Chava Kimchi-Sarfaty, who's
16 the deputy associate director for research for the
17 office and really helpful in our division in
18 determining policy or helping with policy issues
19 related to research. And underneath that are the two
20 administrative employees, Trevor Pendley and Esther
21 Saintilaire. Next slide, please.

1 So the DPPT workload, just to give you a quick
2 understanding of how much work is devoted to research
3 and how much to review -- so the regulatory scientists,
4 we have 10 of them. They spend all their time doing
5 review. The PIs, the principal investigator -- there's
6 seven of them -- split their time between research and
7 review the same with the research scientists and staff
8 fellows. And the ORISE fellows and contractors spend a
9 hundred percent of their time doing research, no
10 review. Next slide, please.

11 So what is the regulatory function? What do
12 we do in DPPT in terms of regulation? Well, obviously
13 we review applications for products, and this is very
14 diverse. So it includes biologics, drugs, and devices.
15 And the evaluation process of products includes
16 scientific review, laboratory investigation assay and
17 standard development, and we are also involved in
18 surveillance. This involves inspections, especially
19 pre-approval inspections.

20 We file adverse event reports and investigate
21 them. And we also investigate product failures. And

1 all of this is done under the legal framework of the
2 federal -- code of federal regulations and different
3 acts of Congress, the user fee for drugs or user fee
4 for devices, and the FDC and PHS Acts. Next slide,
5 please.

6 So what other tasks do we have? We are
7 involved in policy and developing guidance documents,
8 harmonization with other regulatory agencies -- the ICH
9 is the International Council for Harmonization. And we
10 have quarterly meetings with the European Medical
11 Authority, EMA, and the Canadian Health Authority. We
12 also have liaison meetings with different government
13 agencies, with industry, with patient groups, and we
14 communicate by websites postings and letters with
15 industry. We have workshops to deal with scientific
16 issues. And we also have representatives of multiple
17 scientific committees inside the FDA, outside the FDA.
18 And we sometimes have to answer citizen petitions.
19 Next slide, please.

20 So other committees and working groups, we
21 work closely with the NIBSC, National Institute of

1 Biological Standards and Control in the U.K., and with
2 WHO, and this is related to -- mainly related to
3 development of assays and standards for our products,
4 which is very critical, especially, for example, to
5 determine potency of our products. This has to be
6 standardized and validated. We also participate in the
7 EDQM, which is a European Pharmacopoeia Expert Group,
8 which deals with plasma-derived products. And we
9 attend as observers. Next slide, please.

10 In addition, other committees and working
11 groups, we have working groups within the FDA, within
12 CBER, with CDER, and these working groups have multiple
13 functions -- container closure, genomics working group,
14 the HIVE, which is an informatics group. And we have
15 representatives in business process committees. These
16 are coordinating with committees for research, for
17 information management, and for regulation management.
18 And we participate in multiple USP committees and
19 working groups, and multiple industry education groups
20 such as PDA, CASSS, and DIA. Next slide, please.

21 So how does the regulatory process work? The

1 decisions are based on scientific data showing safety,
2 efficacy, and purity. The decision-making process
3 involves internal review, presentations to advisory
4 committees, and multiple meetings with manufacturers
5 during the course of product development, including
6 pre-IND/IDE meetings, INT meetings, pre-license
7 application meetings, and after the license is
8 submitted, several meetings including mid and late
9 cycle meetings. Next slide, please.

10 Just to give you a sense of the workload in
11 DPPT, this shows the different types of license
12 applications. So at the bottom of each bar, you see a
13 -- you may be able to see a slight blue bar which is
14 the new original BLAs. We have about five to eight per
15 year. In this year alone -- and it's not easily seen
16 on the slide, but in this year alone we approved two
17 products, the plasminogen and the SEVENFACT. From the
18 bottom up we, so the bottom is the new original BLAs,
19 then supplements, which is a major part of our work,
20 then annual reports, then product correspondence, and
21 then post-marketing commitment submissions. Next

1 slide, please.

2 So just to look on the left -- concentrate on
3 the left, these are INDs, IDEs, and master files that
4 were submitted. Right at the bottom -- it's hard to
5 see -- are original applications. We get about 20-25 a
6 year. And then the rest of it are amendments to those
7 IND applications. I've already dealt with the BLAs on
8 the right, so let's go to the next slide.

9 So what are the licensed products in the
10 plasma derivative branch -- the branch that you are
11 reviewing today in terms of research? So the products
12 include polyclonal immune globulins used for
13 immunodeficiencies and various autoimmune diseases and
14 neurological diseases; then specific immune globulins
15 or hyper-immunes; again, infectious diseases. We also
16 review anti-toxins, anti-venoms, anti-T cell therapies
17 for prevention of transplant rejection, and enzymes for
18 enzyme deficiencies such as Alpha-1 proteinase
19 inhibitor and C1 esterase inhibitor. Next slide,
20 please.

21 We also regulate coagulation factors in the

1 hemostasis branch not under review today, so these are
2 coagulation factors VIII and IX; von Willebrand factor;
3 fibrinogen factor; factor X; factor XIII; anti-
4 coagulants, including protein C; antithrombin;
5 bypassing agents, such as anti-inhibitor coagulant
6 complex; recombinant activated factor VII; hemostatic
7 agents used in surgery, thrombin, fibrin and CryoSeal;
8 fibrin sealant; and reversal agents for anticoagulants
9 for prothrombin complex concentrate; and recombinant
10 factor Xa variant, which is inactivated. Next slide,
11 please.

12 So what is the scope of the DPPT Research?
13 Obviously, this is mission related. It's we're looking
14 at the efficacy of the immune globulin products in
15 relation to various infectious diseases, including
16 COVID. We study the regulation of blood coagulation of
17 factors VIIa, IXa, and XIa. Aggregates are a problem
18 in protein products, and we study that and develop more
19 sophisticated methods to see that, to detect them. We
20 have projects on immunogenicity, Fc-fusion proteins,
21 Factor VIIa, and CRISPER/Cas9. We have projects on

1 pharmacogenomic and codon optimization studies of
2 proteins, materno-fecal transfer of antibodies, assay
3 and standard development, and counterterrorism and
4 pandemic research related to products. Next slide,
5 please.

6 So what about productivity? So you see
7 highlighted here the 52 publications and 84
8 presentations in the last nearly three years. The
9 publication topics include immune responses to SARS-
10 CoV-2 vaccine, Fc-fusion drugs, protein immunogenicity,
11 HLA donor optimization, synonymous mutations, published
12 in various journals -- some of them very high-impact.
13 And the presentation topics on the right, these are
14 presented at meetings. You have some examples there,
15 and then you have examples of meetings that are --
16 which we attend and present at often on an annual
17 basis. Next slide, please.

18 So what about COVID? What have we done
19 regarding COVID? Next slide, please. The research
20 that has addressed the COVID dosing considerations for
21 hyperimmune based on Hamster Challenge Studies, which

1 has been published; investigating coagulopathies that
2 are associated with mortality following COVID
3 infection; developing methods for rapid screening of
4 convalescent plasma and immune globulin products;
5 designing codon-modified versions of the SARS-CoV-2
6 virus to attenuate for vaccine use; testing of
7 antibodies that neutralize COVID; and examining binding
8 of coagulation-related proteins to the SARS-CoV-2 --
9 coronavirus 2. Next slide, please.

10 So just to give you a sense of the recognition
11 -- so you may not know this, but we are not able to
12 apply for NIH RO1 grants. But we do apply for
13 competitive grants. We do get money internally, non-
14 competitive money, which is baseline money for
15 research. But in addition, we apply for grants from
16 government agencies and agencies within the FDA. So
17 BARDA is one example where we received grants and OCET,
18 which is for counterterrorism and emerging threats.
19 We've received several grants and we received grants
20 from, challenge grants that have been available through
21 the FDA. At the bottom you see some CRADA agreements.

1 These are contracts that we have with academia, with
2 the Boston Children's Hospital, and Cleveland State
3 University. Next slide, please.

4 So looking ahead, DPPT has identified and has
5 plans to address the following gaps in regulatory
6 research. So this is similar to what Dr. Elkins called
7 horizon scanning. So what we think we need to do in
8 the future is improve our ability to perform
9 bioinformatics to examine immunogenicity and
10 introducing DNA sequence changes for optimizing product
11 yield; looking at next generation immune globulins,
12 such as recombinant polyclonal immunoglobulins,
13 modified immunoglobulins, and novel immunoglobulins
14 against emerging pathogens and toxins; and looking at
15 safety issues related to platform technologies for
16 protein modification, such as Fc and albumin fusion and
17 pegylated proteins. Next slide, please.

18 What are the anticipated new products? This
19 is also part of horizon scanning. So we think that
20 we're going to see proteins with improved
21 pharmacological properties, new recombinant coagulation

1 factors, new PEGylated products, new fusion proteins of
2 coagulation factors with XTEN or CTP to prolong half-
3 life -- and then the second bullet, plasma-derivatives
4 and their recombinant analogs for factor deficiencies
5 and other disorders in hemostasis, such as coagulation
6 factors for deficiencies, heme scavengers for treatment
7 of sickle cell disease, recombinant variants for the
8 treatment of arterial thrombosis and thromboembolism,
9 reversal agents for anticoagulants, and metalloprotease
10 to treat TTP. That's atom TS13. Next slide, please.

11 So we also anticipate HDL-type products for
12 the reduction of atherothrombotic events. And under
13 immune globulins, we will be expecting COVID-19 hyper
14 immune globulins, new INDs, recombinant polyclonal
15 immune globulins, glycosylation variants of immune
16 globulins, and oral milk or colostrum-derived bovine
17 immune globulin treatments for GI diseases, and new
18 equine antibodies for treating toxins from spiders and
19 snakes. And indeterminates of serine proteases, we are
20 expecting recombinant products, subcutaneous
21 formulations, and inhalational formulations. Next

1 slide, please.

2 So with that I just want to thank the people
3 that helped me put all these slides together, Dr.
4 Bryan, Rachael Anatol, Sue Epstein, Chava Kimchi-
5 Sarfaty, Timothy Lee, Trevor Pendley, and Robert
6 McElwain. And I want to thank you for your attention.

7

8 **OVERVIEW OF PDB RESEARCH PROGRAMS**

9

10 **DR. RICHARD KAUFMAN:** Thank you, Dr. Golding.
11 Are there any questions from the group? Okay. Well,
12 hearing none, I will move on then. Our next speaker
13 will be Dr. Dorothy Scott, who's the Chief of the
14 Plasma Derivatives Branch of OTAT.

15 **DR. DOROTHY SCOTT:** Good morning, everyone.
16 I'm here today to present some highlights --

17 **MR. MICHAEL KAWCZYNSKI:** Dr. Scott, do you
18 mind turning your camera on for us?

19 **DR. DOROTHY SCOTT:** Yeah. I don't mind at
20 all.

21 **MR. MICHAEL KAWCZYNSKI:** Top of the screen --

1 oh, there you go. Perfect. Now we see you. Take it
2 away.

3 **DR. DOROTHY SCOTT:** Yep. Okay. All right.
4 I'd rather not see me, but that's okay. So, as I was
5 saying, I'm going to try to give you a flavor of five
6 projects in 20 minutes, so I need you to hold onto your
7 hat while we proceed forward. Next slide, please.

8 I got it. The Plasma Derivatives Branch
9 mission statement is to meet the public health needs
10 for safe and effective products by performing high
11 quality research that directly impacts safety,
12 effectiveness, and availability of Plasma Derivatives.
13 I'm sorry, I'm trying to advance the slide now. Could
14 I have the next slide, please?

15 **MR. MICHAEL KAWCZYNSKI:** Sure.

16 **DR. DOROTHY SCOTT:** This is our branch, we
17 have three sections, immunology, and (audio skip)
18 safety and quality section, Pei Zhang as the PI, and
19 we'll be presenting work from all three of those
20 groups. I do want to point that without the people
21 listed under us, this work would not be possible. They

1 do a lot of the bench work, almost all of the (audio
2 skip) amazing what they're able to accomplish even
3 though (audio skip). Next slide, please.

4 I'm going to present two projects of Dr.
5 Goldings: New approach for treating people with
6 hemophilia with inhibitors using Fc-fusion proteins and
7 NK cells. Dosing considerations for use of hyperimmune
8 SARS-CoV-2 and immune globulin in the treatment of
9 COVID-19.

10 We're just going (audio skip). All right, so
11 this is our data slide for this (audio skip). What Dr.
12 Golding and Dr. Lagassé, first doctor on the (audio
13 skip) in this work did is they took Syrian Golden
14 hamsters and are asking the question of how the Fc-
15 fusion protein impacts B cells. So what you see here
16 is rituximab on the left, and the kinds of cells it
17 would use are Raji cells are the cell type and at a B
18 cell line that is specific for factor VIII proteins.
19 (audio skip) positive control, rituximab, will bind to
20 both of these and in the presence of natural killer
21 cells there's a little plus here under the cell lysis.

1 But when you look -- when you add instead
2 recombinant factor XI Fc, it has no impact on Raji cell
3 lysis, even if NK cells are present. But, for the
4 specific cell line, you see lysis, so this recombinant
5 fusion protein is used as a therapy for factor VIII.
6 And the idea, obviously, is to increase the half-life,
7 but it turns out that there are some other things that
8 are happening, at least in vitro, that Dr. Lagassé and
9 Dr. Golding were able to show. The peak of the fusion
10 protein-induced lysis is at a concentration of about 25
11 nM of the fusion protein. And this is achievable
12 during immune tolerance induction regiments.

13 So the conclusions from this project are that
14 the recombinant fusion protein activates CD16 NK cells.
15 The infusion protein induces interferon gamma
16 secretion, which you didn't see, from primary
17 preferable blood monocytes and also from NK cells. And
18 this lysis is particularly associated with presence of
19 a high affinity CD16 allotype, and recombinant fusion
20 protein induces factor VIII-specific B cells to be
21 lysed in the presence of NK cells.

1 So, obviously, what is happening in the gray
2 you can see the fusion protein -- the Fc portion of it
3 binds to Fc receptor on NK cell CD16, to be precise,
4 and the antigen portion, the factor VIII itself, is
5 bound to these factor VIII-specific B cells. And this
6 brings the cell -- it bridges the cells together so
7 that the NK cells can destroy cells which you don't
8 want to have making inhibitor.

9 So Dr. Goldings' second project that I'm
10 showing today is considerations for antibody therapies
11 against COVID-19. This based on some work that he did
12 with others using the Golden Syrian Hamster Model of
13 SARS-CoV-2 infection. The hypothesis was that in order
14 to achieve optimal dosing the viral load needs to be
15 taken into account. In this second project -- and I
16 apologize that this is kind of small -- what they did
17 is they looked at the changes in pharmacokinetic
18 parameters based on viral loads. And these viral loads
19 were determined by collection of samples -- nasal
20 pharyngeal samples from the animals after injection.

21 So first, they infected the hamsters with

1 SARS-CoV-2, and two days later, they gave these same
2 hamsters immunoglobulin that is specific for SARS-CoV-
3 2. And that immunoglobulin was derived from plasma of
4 people who had had SARS-CoV-2 infection. This is
5 convalescent plasma.

6 And what they saw was that as you -- well, in
7 the left-hand side, you can see that there's a control.
8 That's an animal that got immunoglobulin and was not
9 (audio skip) animals with a low viral load that were
10 infected and received immune globulin and some with the
11 higher viral load. And the clearance of the
12 immunoglobulin appears to be increasing with increased
13 viral load, and you can see this also in Graph C. And
14 there are a lot of pharmacokinetic parameters here,
15 including area under the curve, which was lower in the
16 presence of (audio skip).

17 The conclusions were that virus presence
18 reduces antibody concentration due to immune complex
19 clearance. And viral load needs to be taken into
20 account when treating patients that have COVID-19 with
21 a hyperimmune SARS-CoV-2 immune globulin.

1 Next project -- and we're switching gears a
2 bit -- is -- the next several projects are by Pei Zhang
3 in his lab. They focus on evaluation and
4 characterization of neutralizing antibodies against
5 viruses that are relevant to blood-derived products.
6 The regulatory relevance is we are tasked with
7 developing and evaluating technologies, reagents, and
8 standards that may improve the chemistry,
9 manufacturing, and controls of plasma-derived
10 immunoglobulin products. Some of the goals here of Dr.
11 Zhang's projects are to facilitate improvement of the
12 immunoglobulin products to increase their clinical
13 efficacy, and to help immunoglobulin manufacturers
14 develop reliable and better assays to measure product
15 quality and characterize their products.

16 This slide looks complex, and I will do my
17 best to explain it. But it's very elegant work that
18 was recently published in PNAS by Dr. Zhang with Lu
19 Deng as the first author. And what they did is they're
20 looking at the hepatitis C virus epitope and its
21 structural dynamics. First of all, there's an epitope

1 called epitope III in the E2. That's an envelope
2 protein of hepatitis C virus. And that is a
3 neutralizing epitope. What you're seeing in the left-
4 hand panel are two arms of a neutralizing antibody.

5 So this -- a monoclonal antibody neutralizes
6 HCV, and they co-crystallize this with epitope III. And
7 what they found was a unique conformational structure
8 that epitope III has to attain in order to be bound by
9 this antibody. And this unique structure is obviously
10 different from sort of a classical or typical
11 structure, so what epitope III is responsible for is
12 binding to -- of the virus to CD81, the virus receptor,
13 and allowing entrance of HCV into the cell.

14 What they found is that these two
15 conformations give more or less mutually exclusive
16 functions, so in the unique epitope that is recognized
17 by the neutralizing antibody, the antibody neutralizes
18 it and prevents it from binding to CD81 because
19 structure in general has now become different as a
20 result of conformational change. Whereas this other
21 form of the protein can bind CD81 and is not found by

1 the antibody. So by changing the conformation, the HCV
2 E2 protein can avoid antibody recognition without
3 varying its amino acid sequence. And it combines to
4 host cell receptor for CD81 (audio skip).

5 Dr. Zhang's second project was on hepatitis B
6 virus where he studied -- or analyzed the specific
7 sites on the HB surface antigen protein that are bound
8 by the therapeutic antibodies hepatitis B and hepatitis
9 B immune globulin -- a hyper immune globulin that's
10 used for prevention, post-exposure prophylaxis, and
11 ISB. And it's also used post-liver transplant for
12 people with hepatitis C to prevent recurrence of HBV
13 disease. So he mapped these five major epitopes that
14 are recognized on the HBsAg protein.

15 Then, he looked for clinically important
16 escape variants of the virus. And what he found is
17 that these are generally located -- or many of them are
18 located in these specific areas. And he proved that
19 replicating those mutations in the HBV protein also
20 diminished the binding of hepatitis B immune globulin.

21 This has some implications. For HCV -- sorry,

1 there's a typo here. For HBV, further studies will
2 determine whether the current HBIG treatment could be
3 improved by supplementing it with site-specific
4 neutralizing monoclonal antibodies that target
5 clinically observed mutations for control of HBV
6 infections. This would be used in people with more
7 chronic infections or possibly transplant patients or
8 immunocompromised people who can't necessarily control
9 the infection themselves -- who have chronic infection
10 to suppress any (audio skip). And for product
11 improvement, further experiments will be designed based
12 on the data to help immunoglobulin manufacturers to
13 develop reliable and more comprehensive assays for
14 better product quality characterization. So that would
15 be, for example, potency assays for virus-specific
16 immunoglobulin production.

17 I'm switching to Dr. Struble's work. Dr.
18 Struble is a staff scientist and a research
19 pharmacologist, who also is a marvel at regulating the
20 pharmacology and toxicology in pre-clinical models that
21 we see in regulatory submissions. So I'm going to

1 present her animal studies to assess immune globulin
2 treatments when used during pregnancy. And, again, the
3 mission relevance is to address challenges in
4 development and regulatory evaluation of biologics.

5 So maternal-fetal partitioning of hyperimmune
6 globulin, so this HIG means hyperimmune globulin -- Dr.
7 Struble first noted that hyperimmune globulins are
8 proposed to treat pregnant women and to prevent
9 vertical transmission of viral disease to the fetus.
10 And these have been studied for hepatitis B virus,
11 cytomegaly virus, and Zika virus. And we expect that
12 other viruses may be studied in a similar fashion. So
13 can you give the mother a hyperimmune globulin and
14 prevent transmission to her baby?

15 In humans, placental transfer of IgG occurs
16 during the second and third trimesters. And here we're
17 looking at the maternal to fetal ratios of immune
18 globulin in pregnant humans as pregnancy progresses.
19 And you can see that that ratio changes over time and
20 there's less immunoglobulin in the mother compared to
21 the babies. So placental transfer that occurs during

1 the second and third trimesters, the questions came up,
2 how does that affect the efficacy of hyperimmune
3 globulin therapy in pregnant women? And is hyperimmune
4 globulin therapy during pregnancy effective in
5 preventing vertical transmission of viral disease to
6 the fetus and the newborn? And the clinical data have
7 not provided clear answers.

8 And there are some studies that have been
9 attempted, which have not yielded positive results.
10 And there are several interesting reasons for that, one
11 of them being dose, one of them being timing. So in
12 the study I'm going to show you, she looked at
13 placental transfer in an animal model using hepatitis B
14 immune globulin. This situation -- and I should say
15 that Dr. Struble developed this animal model for this
16 purpose in the guinea pigs and injected them with
17 immune globulin at gestation day 21, 30, 40, 50, and
18 60, with the hepatitis B immune globulin. What she
19 found is that as time went by in the pregnancy, the
20 fetal to maternal ratio of immune globulin -- human
21 immune globulin went up. And so this is consistent

1 with what you saw for people, only it was
2 maternal/fetal ratios in the first slot.

3 So what she also found were differences in
4 pharmacokinetic parameters. So the area under the
5 curve and first bar is control. The second bar is
6 pregnant guinea pigs. The area under the curve for the
7 human immunoglobulin you can see here is higher when
8 animals are not pregnant and lower when animals are
9 pregnant. And this is seen at two different doses of
10 hepatitis B immune globulin.

11 Compatible with that, she also looked at the
12 AUC in these guinea pigs at first, second, and third
13 trimester equivalents, and you can see that the AUC is
14 going down -- the maternal AUC. (Audio skip). So the
15 conclusions here are that the maternal-to-fetal (audio
16 skip) reduction of maternal exposure, exposes the fetus
17 to progressively higher concentrations with increased
18 gestational age results in fetal neutralizing activity
19 against HBV in amounts associated with protection in
20 people against HBV. So the potential for reduced
21 maternal exposure, for the decreased half-life and

1 increased clearance in pregnant women should be taken
2 into consideration on the selection of the dosing
3 regimen during pregnancy.

4 And now I'm going to go on, we're at our last
5 couple of projects, and I think I'm doing a little
6 better than I expected. This project was initiated by
7 Yonggang Wang in my lab, and the topic is hemolytic
8 activity of licensed immune globulins. I'm sorry this
9 slide is so busy, but I won't go through it all. We
10 wanted to address hemolytic activity in IGIV products -
11 - or IVIG products. And we had been receiving
12 increased numbers of hemolysis reports in IVIG
13 recipients from products that passed a lot release
14 test, which is direct hemagglutination test.

15 And it has the specifications as the European
16 pharmacopeial test, and it's used for all of our
17 products to diminish the amount of isoagglutinin in
18 those products, that is antibodies to the A and B
19 determinants on red cells. We developed a compliment-
20 mediated hemolysis assay, a compliment-dependence
21 called the CBHA for IVIG products. We also identified

1 antibody subclasses in IVIG that mediate hemolytic
2 activity. And, again, this research addresses
3 challenges in developments and regulatory evaluation of
4 products. And I'll keep going here.

5 The CDHA we developed in order to improve
6 detection of hemolysins in IVIG. So the current direct
7 hemagglutination assay that is used for lot release in
8 all of our IVIG lots, it is somewhat imprecise. It has
9 a large titer variation. It does not screen out
10 hemolytic IVIG lots. There's a subjective readout, and
11 it measures binding of antibody to RBC but not really
12 the functional activity of those antibodies that are
13 binding.

14 So we modified and somewhat modernized
15 classical methods used to detect hemolytic activity in
16 general of antibodies against red cells. And I'm not
17 going to go through how we did all of this. It looks
18 simple, but it was complex because there are a number
19 of different parameters that have to be controlled.

20 And, well, it was more work than it looks like
21 here. But it's adding antibody to the red cells,

1 followed by human compliment, and measuring the amount
2 of hemoglobin that is released, which is evidence of
3 (audio skip). We looked at a number of different
4 brands of immune globulin product, and we found
5 different amounts of hemolytic activity using the CDHA.
6 Here, what you're looking at on the Y-axis is specific
7 lysis and on the X-axis is the volume or concentration
8 of the immunoglobulin that was used in this in vitro
9 assay. And this curve up at the top of all of these is
10 a positive control from a highly hemolytic lot --
11 clinical lot.

12 So what you can see is we came up with three
13 patterns, basically: a relatively high hemolytic
14 activity, somewhat lower hemolytic activity, and
15 undetectable hemolytic activity. Now, to show you what
16 high means, we also have a graph down here of the
17 hemolytic activity of product lots that cause
18 clinically significant hemolysis and had at least
19 several reports of hemolysis for these spontaneous
20 adverse event reporting system. So these are
21 definitely implicative lots, and you can see that their

1 hemolytic activity appears to be reasonably high.

2 Now, this can be quantitated by using an EC50,
3 and I'm just showing you how we do that here. What we
4 found is that hemolytic activity differs across product
5 brands, but it's consistent for individual product
6 brands. And the CDHA testing itself was more
7 quantitative, and it was reproducible the way in which
8 we did it. And we also identified clinically hemolytic
9 lots that it passed direct to agglutination testing at
10 the manufacturer and also in our hands.

11 Just very briefly I'll show you the
12 contribution of antibody subclasses to hemolytic
13 activity. And the first thing we did is we looked at
14 monoclonal antibodies that Dr. Wang generated, which
15 bind the A antigen on red cells, and he made subclass
16 switched recombinant anti-A antibodies. This is a
17 positive control and negative control for the assay.
18 And what we saw is that all of these antibodies had a
19 bit of hemolytic activity but not a lot. IgG3 had the
20 most, and that's kind of what you would expect because
21 IgG3 is especially good at finding complement by virtue

1 of its long stem and of complexing. So, then, he took
2 immunoglobulin that was known to be hemolytic in vitro
3 and to in vivo in susceptible patients.

4 And he purified the IgG subclasses from it,
5 and this is not so easy to get at least 90 percent or
6 higher purity of the subclasses. But at any rate, it
7 can be done with chromatographic methods. And he found
8 that IgG2 purified from the immune globulin has the
9 highest hemolytic and hemagglutinating activity.

10 So the outcomes of this is that we became
11 proficient in product testing, and we do test our
12 products with the CDHA as well as the direct
13 hemagglutination assay. In the past year there have
14 been seven pre-IND or IND products that we've looked
15 at, and the reason we look at these is we're seeing new
16 methods of manufacturing and the need to understand
17 impurities, particularly this one because it requires
18 certain conditions to be got rid of. And we also
19 tested for licensed products after they underwent major
20 manufacturing changes that might alter the partitioning
21 of isoagglutinin's during manufacturing.

1 We participated in WHO international standards
2 and methods studies by virtue of this expertise. We
3 identified and assisted in NIBSC to obtain a high titer
4 anti-A, anti-B immune globulin product to use as a
5 reference standard, which became NIBSC 14/160. And
6 we've just submitted a manuscript for the CDHA method.
7 Our future plans are to look more at the pathogenesis
8 of extravascular -- or to look at all at the in vitro
9 method of trying to measure or replicate extravascular
10 hemolysis so that we can look at the contribution of
11 our new globulin products via this route to hemolytic
12 activity testing in patients. And we'll continue our
13 international standards and other work. I want to
14 thank everybody -- oops, I'm sorry. I have one more.
15 I'm almost finished.

16 And that's treatment and prophylaxis efficacy
17 of FLUIGIV murine animal models. So this is another
18 hyperimmune globulin, this time against influenza. And
19 I'll just -- it's consistent with CBER's regulatory
20 mission to increase preparedness for emerging threats
21 and promote global public health. The specific goals

1 were to study a human hyperimmune globulin we call
2 FLUIGIV against influenza A in normal and
3 immunocompromised mice. Compare the efficiency or
4 efficacy of different FLUIGIV treatment regimens with
5 respect to timing relative to influenza challenge and
6 single versus repeat dose regimens, and also to assess
7 FLUIGIV, which was made against the pandemic H1N1
8 influenza back from the early 2000s -- to assess the
9 cross-reactivity of this FLUIGIV and its protection
10 potential against highly pathogenic avian influenza
11 strains. Actually, we use reassortant virus on a PR8
12 backbone, so we'll just -- it's very attenuated.

13 What Dr. Khalenkov found was that there was in
14 vivo cross-reactivity of FLUIGIV with different
15 pandemic influenza strains, specifically H1 -- I'm
16 sorry, H1N1 is already there -- H5N1 completely
17 protected the BALB/c mice when given prior to, or at
18 the same time, as FLUIGIV. And H7N9, there was partial
19 protection there. So FLUIGIV protected 100 percent of
20 animals from H1N1 and H5N1 group, and partial
21 protection was observed in the H7N9 group.

1 He also looked at time-dependent FLUIGIV
2 prophylaxis and treatment in BALB/c and SCID mice. And
3 what you can see here is that giving pre-exposure
4 prophylaxis or day zero treatment fully protected
5 BALB/c mice from lethal challenge. But post-exposure
6 treatment failed to protect the BALB/c mice from lethal
7 challenge.

8 It's more complicated with SCID mice, but he
9 showed that pre-exposure prophylaxis and post-exposure
10 treatment prolonged survival in SCID mice in a time-
11 dependent manner but did not ultimately provide
12 protection from lethal challenge. Well, every now and
13 then a mouse survived. And you can see that partial
14 protection was seen especially with pre-exposure
15 prophylaxis in the mice groups there.

16 So, in 2019, there was a publication of an
17 NIH-sponsored trial of an anti-influenza hyperimmune
18 globulin for adults with influenza A or B infection.
19 And this trial showed no difference in the composite
20 safety outcome of death, serious adverse event, or
21 grade three or four adverse event between placebo and

1 treatment groups. I should point out that the people
2 who were treated already were seriously ill and were
3 expected to be in the hospital for more than 24 hours.
4 And they had certain warning signs that they were going
5 to have a difficult course.

6 The BALB/c experimental data that we showed is
7 in concurrence with these clinical trial results. And
8 the BALB/c results predict that pre-exposure
9 prophylaxis with FLUIGIV could be beneficial. And
10 based on the SCID mouse experiments, there could be
11 potential benefits of a FLUIGIV for treatment of
12 severely immunocompromised patients infected with
13 influenza A.

14 And the future studies that are planned are to
15 obtain a detailed PK and PD of the FLUIGIV normal and
16 SCID murine models, to evaluate host-dependent
17 immunological determinates of protection -- and we
18 actually have tissues from a lot of our experiments so
19 that we can do that -- and to start some combination
20 studies in the SCID mice and the BALB/c post-exposure
21 treatment mice with currently approved drug therapies

1 for influenza disease. Now, I want to thank you for
2 your attention.

3 I'm sorry it has been a whirlwind, and I'm
4 sorry I ran a little late. But it's very much
5 appreciated, your evaluation, critiques, and we look
6 forward to seeing the site visit report. Thank you
7 very much.

8

9

Q&A SESSION

10

11 **DR. RICHARD KAUFMAN:** All right. Thank you,
12 Dr. Scott. Are there any questions from the Committee?
13 And I'll just ask people to use their raise your hand
14 button if there's anything you'd like to ask. Dr.
15 Ballow.

16 **DR. MARC BALLOW:** Good morning, doctor. It's
17 nice to see you again.

18 **DR. DOROTHY SCOTT:** Hi. Likewise.

19 **DR. MARC BALLOW:** I wanted to ask you about
20 Basil's SARS-CoV-2 experiments. I think, was it
21 hamsters, I believe? The immunoglobulin that was

1 derived from the post (inaudible) bodies to try to
2 detect what kind of antibodies were in the post-
3 infectious immunoglobulin product that was used in
4 those animal models? Because obviously there's a
5 broader range of antibodies. There's neutralizing
6 antibodies, and there's, you know, antibodies of --
7 perhaps other components that may be less effective or
8 perhaps even more effective in that animal model.

9 **DR. DOROTHY SCOTT:** So I think you're asking
10 about the -- how were the COVID-19 -- or how were the
11 SARS-CoV-2 antibodies characterized in that
12 immunoglobulin product; is that right?

13 **DR. MARC BALLOW:** Right. And whether that may
14 have made any difference in the results -- in the
15 experimental results.

16 **DR. DOROTHY SCOTT:** I think that those
17 antibodies generally are characterized by
18 neutralization mainly, not by other particular
19 characteristics like ADCC or other mechanisms of
20 action.

21 **DR. MARC BALLOW:** Okay.

1 **DR. DOROTHY SCOTT:** They're all derived from
2 convalescent plasma.

3 **DR. MARC BALLOW:** So those antibodies actually
4 penetrate the nasal epithelium?

5 **DR. DOROTHY SCOTT:** That's a very good
6 question. I don't know. Dr. Golding is actually here,
7 and he could answer that.

8 **DR. BASIL GOLDING:** Can I just weigh in?

9 **DR. DOROTHY SCOTT:** Yeah, absolutely.

10 **DR. BASIL GOLDING:** Dr. Ballow, yeah, those
11 are obviously good questions. The antibody we used --
12 you know I can't divulge the company, but it was used
13 in the clinical trials. And we do have neutralizing
14 titers that were in the 300 to 600 range, using a
15 pseudo-virulent assay that was a standard -- a WHO
16 standard. So that's the information we have. They
17 weren't characterized in terms of how much non-binding
18 antibodies there are.

19 And we don't know in the hamster model to what
20 extent the antibodies that were effective were
21 neutralizing or not but based on a lot of evidence in

1 the literature, I would expect that the neutralizing
2 antibodies did play a role. And I don't know if you
3 saw it in the slides that Dr. Scott presented. The
4 hamsters that received the product did have a quicker
5 recovery time and less loss of body weight compared to
6 hamsters who did not receive the antibodies.

7 **DR. DOROTHY SCOTT:** Yeah.

8 **DR. BASIL GOLDING:** There was something else
9 you asked?

10 **DR. MARC BALLOW:** No, I just -- I was just
11 asking about the penetration of those antibodies into
12 the --

13 **DR. BASIL GOLDING:** Nasal tissues. Yeah, so
14 that's problematic. We did look at that. The antibody
15 levels in the nasal tissues were particularly low in
16 our hands. So this was antibody given intravenously to
17 these hamsters through a catheter in the jugular vein.
18 So the levels in the nasal tissues were low, which was
19 in keeping with what other people have seen in animal
20 and human studies.

21 And also I would point out that when we looked

1 at viral loads in the lung and in the nasal tissues,
2 the viral loads in the lung were decreased in the
3 presence of the antibody, but the viral loads in the
4 nasal tissues were not -- they were slightly decreased,
5 but it was not significant. So if the point you're
6 making is that do they reach the nasal tissues? Well,
7 they do but in much lower amounts than they do in --

8 **DR. DOROTHY SCOTT:** Small.

9 **DR. BASIL GOLDING:** So the antibody treatment
10 may be effective in reducing lung disease, but it won't
11 be very effective in reducing nasal viral loads and
12 transmission.

13 **DR. MARC BALLOW:** Great, thanks. And then,
14 Dorothy, I wanted to make a comment about your
15 hyperimmune flu antibody studies. In conjunction with
16 Immune Deficiency Foundation, we actually published a
17 paper. It was based on a questionnaire to patients
18 with a variety of types of antibody deficiency, and, as
19 you know, there's a lot of (audio skip) that present
20 immunoglobulin products contain cross-reacting
21 antibodies and --

1 **DR. DOROTHY SCOTT:** Yeah.

2 **DR. MARC BALLOW:** -- and flu that range back
3 many, many years ago depending on the donor pool. And
4 we actually found that patients with (inaudible) anemia
5 who, obviously, can't make antibodies because their own
6 specific antibodies -- but there are immunoglobulin
7 replacement therapy -- had the lowest -- again, this is
8 not by culture but by report of the parents whether
9 those patients got sick with flu -- had the lowest flu
10 symptomatology than some of the other groups of
11 patients. So, you know, in other words, immunoglobulin
12 products that we use today may actually have enough
13 cross-reactive antibodies so it's prophylaxis in our
14 patients with primary antibody (audio skip).

15 **DR. DOROTHY SCOTT:** Well, that's -- I remember
16 that. I remember calling IDF even and asking if that -
17 - if they think that chronic administration is good.
18 And I think that patients might be happy to know that
19 immunoglobulins now also contain -- or many of them
20 contain some antibodies against SARS-CoV-2 because
21 that's obviously been -- people are vaccinated and

1 convalescent, and there's a whole lot of them. And
2 some of those, maybe even a lot of those -- the donor
3 population has now got those antibodies, and they have
4 worked their way through the system. And the plasma's
5 been manufactured. And we're rather eager to look at
6 some immunoglobulins that we've purchased to see how
7 much COVID-19 antibody is there.

8 There's one publication already by Thomas
9 Cryal (phonetic) from Takeda (phonetic) where he's
10 looked at the Takeda products and found, you know,
11 neutralizing antibodies. So, yes, you might say --
12 also for CytoGam a long time ago, I remember the
13 children who received it had fewer upper respiratory
14 tract infections in general or had fewer ear infections
15 to be specific. And so the -- and they had a pretty
16 hefty dose of the immune globulin.

17 **DR. RICHARD KAUFMAN:** Well, listen. Thanks
18 very much. Just in the interest of time, I want to
19 give everybody a break. So we will -- let's just
20 reconvene at 11:20. So thanks very much, Dr. Scott.

21 **DR. DOROTHY SCOTT:** Bye-bye.

1

2

[BREAK]

3

4

OPEN PUBLIC HEARING

5

6

DR. RICHARD KAUFMAN: All right, so --

7

MR. MICHAEL KAWCZYNSKI: All right.

8

DR. RICHARD KAUFMAN: -- our next session, it

9 says open public hearing. However, due to no formal
10 oral requests being received, we'll be moving on to the
11 closed session for Topic I. And this closed session
12 will allow for the Committee members to have internal
13 discussions about the research program and discuss the
14 draft site visit report.

15

16

BREAK FOR TOPIC I CLOSED SESSION

17

18

19

MR. MICHAEL KAWCZYNSKI: All right. Welcome

20

back from that long break, but we are now going to

21

start our middle portion of the agenda, and I'm going

1 to hand it back to Dr. Kaufman.

2 Dr. Kaufman, take it away.

3 **DR. RICHARD KAUFMAN:** All right. Thank you.

4 Welcome back, everyone. Our next speaker will
5 be Dr. Orieji Illoh from OBRR. She'll be talking about
6 the DBCD. Thank you.

7

8 **TOPIC II: OVERVIEW OF OBRR AND DBCD RESEARCH PROGRAMS**

9

10 **DR. ORIEJI ILLOH:** Hi. Good afternoon,
11 everyone. Just confirming that the audio is good.

12 **MR. MICHAEL KAWCZYNSKI:** Yes. You're good.

13 **DR. ORIEJI ILLOH:** Yeah. All right. Thank
14 you.

15 So, good afternoon. My name is Orieji Illoh.
16 Welcome to our discussion this afternoon of the LCH
17 site visits. So, my name is Orieji Illoh. I'm the
18 Director of the Division of Blood Components and
19 Devices. In my talk today, I'll be giving an overview
20 of the Office of Blood first, followed by an overview
21 of my division, the Division of Blood Components and

1 Devices, and our research programs.

2 So, within the office of Blood Research and
3 Review, OBRR, we have the Office of the Director and
4 then two divisions: the Division of Emerging and
5 Transfusion Transmitted Diseases, which is DETTD, and
6 the Division of Blood Components and Devices, which is
7 DBCD.

8 Within DETTD, there are four groups. There's
9 one group that does complete review, where everyone
10 there does complete reviews. And then we have three
11 research branches, and within those three research
12 branches, they do both research and regulatory review.

13 Within the Division of Blood Components and
14 Devices, we have five groups. Three do review work,
15 and the other two do a combination of research and
16 regulatory review work.

17 So, this slide just kind of gives us names to
18 the groups. And within the Office of the Director, our
19 director is Dr. Nicole Verdun. Our deputy director is
20 Dr. Anne Eder. Dr. C.D. Atreya is Associate Director
21 for Research.

1 And also within the Immediate Office of the
2 Director, we also have our administrative staff housed
3 there, our regulatory project managers. Our quality
4 staff are all within that group, too, and also our
5 policy staff.

6 So, DETTD is directed by Dr. Hira Nakhasi, who
7 you will hear from later on, who will give a more
8 expanded overview of DETTD. And his deputy is Dr. John
9 Hobson. Now, within that group, like I mentioned
10 earlier, there are three research groups, research
11 labs. We have the Laboratory of Molecular Virology,
12 led by Dr. Indira Hewlett; the Laboratory of Bacterial
13 and TSE Agents, led by Dr. David Asher; and the
14 Laboratory for Emerging Pathogens, led by Dr. Sanjai
15 Kumar.

16 Within the Division of Blood Components and
17 Devices, other than the three groups that do regulatory
18 review, we have two research groups, which is the
19 Laboratory of Cellular Hematology, led by Dr. Jaro
20 Vostal, and also the Lab of Biochemistry and Vascular
21 Biology, led by Dr. Abdullah Alaysah.

1 So, the OBRR's mission is to ensure the
2 safety, efficacy, and availability of blood and blood
3 products through the regulation of blood and blood
4 components for transfusion, and also, we oversee the
5 collection of source plasma, which is plasma for
6 refractionation.

7 We oversee blood collection containers,
8 storage solutions, devices used in the manufacture of
9 blood and blood components. We also oversee plasma
10 volume expanders and oxygen-carrying solutions, assays
11 used to test for transfusion-transmissible agents, and
12 diagnostic tests for human retroviruses.

13 In addition, as we all know, we also conduct
14 research. And our vision for research is to conduct
15 mission-relevant research to facilitate the
16 development, manufacture, and evaluation of the
17 products that we regulate. Our goals include to
18 address scientific questions critical to effective
19 regulation and review of the products and also to
20 advance innovation in research areas that enrich our
21 regulatory science base.

1 So, within OBRR, we have several research
2 resources, which includes 16 investigator/research-
3 reviewer programs. The expertise varies within these
4 groups and includes expertise in virology,
5 retrovirology, bacteriology, parasitology, prions, cell
6 biology, immunology, biochemistry, and physiology.

7 The programs are mostly funded, as you heard
8 earlier today, by internal resources, which is from
9 CBER and also resources from FDA. But some of our
10 programs do obtain external resources or collaborations
11 with other federal agencies such as the NIH, NHLBI,
12 NCI, and DoD. But in addition, a few of the programs
13 also get non-government funding through CRADAs, and
14 CRADAs mean cooperative research and development
15 agreements.

16 Periodically, the Office of Blood evaluates
17 their research goals and makes sure that they are in
18 line with our mission and our priorities. Currently,
19 we have two broad research goals. Goal 1 is to assess
20 and promote safety and effectiveness of transfusion
21 products and related devices and technologies.

1 Some of the objectives include evaluation of
2 ex vivo stored platelets and red cells for safety and
3 efficacy. We do this through studies of
4 toxicokinetics, development of biomarkers of product
5 quality, microparticle-associated toxicities, both of
6 which you'll hear about today.

7 We also evaluate the safety and effectiveness
8 of oxygen-carrying solutions, platelet-like products,
9 and related biologics. We develop and evaluate
10 reference panels for molecular typing methods for blood
11 groups and HLA antigens. And we also facilitate the
12 development of pathogen reduction technologies
13 applicable to whole blood and blood components.

14 Our second research goal is to assess and
15 promote safety and effectiveness of transfusion-
16 transmitted infectious disease agents, donor screening
17 and supplemental tests, and retroviral diagnostics.

18 Some of the objectives include to evaluate
19 screening and confirmatory technologies for detection
20 of TTID agents for assurance and enhancement of blood
21 safety, develop and evaluate reference panels for

1 screening and confirmatory tests for TTID agents and
2 retroviral diagnostics, and finally, facilitate
3 preparedness for blood safety from emerging infectious
4 agents and other pathogens of global significance
5 through investigations of mechanisms of transmission
6 and pathogenesis.

7 In addition to the regulatory work and
8 research work that our staff do, a lot of our staff are
9 also involved in global outreach opportunities. The
10 OBRR staff participate either as members or observers
11 in different groups, and this includes WHO initiatives
12 such as the Collaborating Center for Biological
13 Standardization, the Expert Committee on Biological
14 Standardization, the Blood Regulators Network, and the
15 Prequalification Program for diagnostics.

16 We also participate with the European
17 Directorate for the Quality of Medicines and
18 HealthCare, typically called just EDQM. Within the
19 blood transfusion sector, there are several workgroups
20 that our staff participate in. We also participate in
21 the International Society for Blood Transfusion Working

1 Groups on Transfusion Transmitted Diseases,
2 Hemovigilance, and Global Blood Safety. Finally, we
3 also participate in the FDA/EMA/Health Canada Blood
4 Cluster.

5 So, that's a general overview of the Office of
6 Blood. And now I'll go to an overview of the Division
7 of Blood Components and Devices.

8 Within the Division of Blood Components and
9 Devices, like I mentioned earlier, I'm the director.
10 My deputy is Dr. Wendy Paul. And we have five groups,
11 like I mentioned, and I'll just go over these briefly.

12 The Device Review Branch, where the staff do
13 regulatory reviews, is responsible mainly for the
14 oversight of reagents for immune hematology testing,
15 for example, reagents for HLA testing, and also blood
16 establishment computer software, among a host of other
17 things that they do. They also oversee molecular test
18 methods for red cell antigens and platelet antigens and
19 neutrophil antigens. That group is led by Dr. Jason
20 Liu.

21 Within the Clinical Review Staff, the team

1 lead there is Dr. Salim Haddard. And that group
2 comprises mainly medical officers and some individuals
3 of expertise in pharmacotoxicology. And this group
4 reviews clinical studies and investigational studies
5 related to devices or drugs.

6 Within the Blood and Plasma Branch, this group
7 consists of mainly individuals with medical technology
8 training and blood collection or blood banking. And
9 this group is responsible for the oversight of the
10 manufacturing of blood components within our different
11 blood centers. This group is led by Richard McBride.

12 Now, we have two groups that do research and
13 review activities. The first is the Laboratory of
14 Biochemistry and Vascular Biology, led by Dr. Abdu
15 Alayash. In this group, they evaluate things like the
16 blood substitute, like the oxygen-based carriers,
17 albumin solutions and other plasma expanders, additive
18 solutions for blood components, and anticoagulants.
19 There's a host of things that they look at there.
20 There are two PIs in that group, Dr. Alayash and Dr.
21 D'Angillo. There's actually a vacant position in that

1 group for a PI.

2 Now, within the Laboratory of Cellular
3 Hematology, which we'll be discussing more today, that
4 group is led by Dr. Jaro Vostal. And Dr. Vostal will
5 give more information about his group, but that group
6 oversees mainly devices used for blood collection and
7 processing, such as apheresis devices for blood
8 collection, leukocyte reduction filters, for example,
9 blood warmers, pathogen reduction devices, also a host
10 of other things.

11 And within that group, there are three PIs.
12 In addition to Dr. Jaro Vostal, there's also Dr. Jan
13 Simak and Dr. Atreya, who perform research work in that
14 group.

15 The mission of DBCD is to assure the safety,
16 efficacy, and availability of blood and blood
17 components and related biological products. As you all
18 know, blood transfusion involves multiple processes,
19 including donor screening, testing, collection,
20 storage, and compatibility testing. And so, a lot of
21 our work revolves around these different stages of

1 blood collection and storage.

2 We also look at biological products, which
3 include hemoglobin-based oxygen carriers and volume
4 expanders such as albumin. Our review activities
5 include the review of applications and inspections
6 related to the manufacture of blood and blood
7 components for transfusion and source plasma for
8 further manufacture into derivatives.

9 We look at devices used in the manufacture of
10 blood and blood components, immunochemistry reagents
11 for blood compatibility testing, HLA reagents also. We
12 also look at plasma volume expanders and, as I
13 mentioned earlier, the hemoglobin-based oxygen
14 solutions and therapeutics and fluorocarbon-based
15 oxygen solutions.

16 In addition, our group is involved in the
17 review of investigational new drug and investigational
18 device reviews as they come in and other pre-marketing
19 activities. This might include meetings with sponsors
20 to discuss their drug development plans or device
21 development plans.

1 Our regulatory review process -- our
2 regulatory decisions are based on scientific data
3 showing safety, efficacy, and purity. Generally, the
4 review entails an internal review by a group of
5 reviewers or research review staff. And this might be
6 an individual reviewer or a team of reviewers from
7 different areas of expertise as needed.

8 We also, as supervisors, participate in
9 evaluating these reviews and making regulatory
10 decisions. As needed, there might also be facility
11 inspections or presentations to the Advisory Committee,
12 for example, if it were looking at a novel blood
13 product or a novel device. And as necessary, we might
14 conduct public workshops as part of our regulatory
15 review process.

16 So, in addition to regulatory work, our staff
17 are involved in many other activities. This includes
18 developing policies governing practices related to
19 blood donor eligibility and product manufacturing. So,
20 we're involved in the development of regulations and
21 guidance document development as relevant to our

1 products.

2 We also serve as liaisons with many industry
3 government agencies such as the NIH/CDC, regulatory
4 agencies of foreign governments, and international
5 bodies. In addition, we conduct mission-relevant
6 research to facilitate the development, manufacture,
7 and evaluation of products that we regulate.

8 In terms of our research goals, this is tied
9 to the overall office research goals. And our goal is
10 to assess and promote the safety and effectiveness of
11 transfusion products, explore novel methods for blood
12 product processing and evaluation.

13 Examples of some of the things that we do are
14 evaluation of pathogen reduction technologies, the
15 development of animal models to evaluate cellular blood
16 product quality, assessing the role of different
17 biomarkers in blood product storage and quality, the
18 development of novel methods to evaluate microparticles
19 in blood products, and evaluation of the safety and
20 effectiveness of oxygen-carrying solutions.

21 So, in summary, our research complements the

1 regulatory mission of our office and our division.
2 This enhances our ability to advance the review and
3 development of safe blood products and related devices,
4 and our efforts contribute to the development of
5 regulatory policies for product development and review.

6 Our research staff have made significant
7 contributions to the field and are recognized
8 internationally for their work. So, this is my last
9 slide, I believe. And I will be happy to take any
10 questions.

11 **DR. RICHARD KAUFMAN:** Thanks, Dr. Illoh.

12 Any questions for her? Okay. It looks like
13 not. Well, thanks very much.

14 **DR. ORIEJI ILLOH:** All right. Thank you.

15 **DR. RICHARD KAUFMAN:** All right. So, our next
16 speaker will be Dr. Jaro Vostal, and he'll be talking
17 about research programs in the Laboratory of Cellular
18 Hematology (audio skip).

19

20 **OVERVIEW OF LCH RESEARCH PROGRAMS**

21

1 **DR. RICHARD KAUFMAN:** Oh, sorry. You're on
2 mute.

3 **MR. MICHAEL KAWCZYNSKI:** You're still muted,
4 sir, yep, on your own phone.

5 **DR. JAROSLAV VOSTAL:** All right. Is this
6 better?

7 **MR. MICHAEL KAWCZYNSKI:** Yep. There you go.

8 **DR. JAROSLAV VOSTAL:** All right. Well, thank
9 you. So, again, my name's Jaro Vostal, and thank you
10 for coming to review our laboratory and our research
11 program. I'm going to give you a brief presentation of
12 the site visit and the work that we've done in the last
13 four or five years.

14 So, as you heard already, we have regulatory
15 as well as research responsibilities in our laboratory.
16 And since we've already gone over this previously, I'm
17 just going to briefly summarize. We review biologic
18 products, specifically transfusion products, including
19 red cells, platelets, and whole blood, as well as
20 plasma. These products come in a number of varieties
21 and configurations, as we can see over here.

1 We also review the devices that are used to
2 collect, process, and store transfusion products.
3 These include collection bags, storage bags, apheresis
4 equipment, leukocyte reduction filters, leukocyte
5 counting devices, pathogen reduction processes,
6 bacterial detection, which is culture-based or rapid,
7 blood bank counters, sterile connectors, and so on --
8 pretty much all the devices you would be expected to
9 encounter in a blood bank or a blood collection center.

10 So, the scope of the regulatory review is we
11 look at preclinical evaluation of products and devices,
12 and specifically for safety and efficacy of these
13 products. We review in vitro tests of processed
14 transfusion products, platelets, red cells, and plasma.
15 We look at the biochemistry of these cells, the cell
16 physiology. We pay attention to platelet activation
17 and similar types of cell responses.

18 We also look at the medical device design, the
19 performance and their impact on transfusion products,
20 the software that are on these devices, and we also
21 participate in the post-market failure and adverse

1 event investigations.

2 The other responsibility that we have is to
3 carry on mission-related research. And mission-related
4 is that we're trying to align with the strategic
5 research goals for the Center for Biologics. One of
6 these goals is to facilitate the development and
7 availability of safe and effective medical products
8 through the integration of advances in science and
9 technology.

10 Another goal is to conduct research to address
11 the challenges in the development and the regulatory
12 evaluation of medical products. Our research products
13 are covered in this column here. We've looked at or we
14 are looking at improved and novel pathogen reduction
15 methods, including expanded efficacy against pathogens
16 and methods of potential for less toxicity.

17 We look at new transfusion products with safer
18 profiles, and that will be cold-stored platelets and
19 temperature cycled platelets for lower bacterial
20 contamination and septic transfusion rates. We look at
21 improved product availability with products such as

1 frozen platelets and extended cold storage of
2 platelets.

3 And we seek to better understand the storage
4 and processing lesions, and specifically, we've been
5 looking at changes in platelet and red cell microRNAs.
6 And we're also developing animal models for human
7 transfusion product efficacy, and we have models in the
8 area of oxygen delivery and also in the area of
9 hemostasis.

10 There are three principal investigators in the
11 lab, and I'm going to go through the presentation that
12 they gave. I'll start with my own program. My program
13 is called the Safety and Efficacy of Cellular
14 Transfusion Products, and the objective of this program
15 is to address issues of safety in current transfusion
16 products and develop methods to evaluate efficacy of
17 novel transfusion products.

18 The accomplishments we have for the last five
19 years are in the area of pathogen reduction, cold
20 platelet storage, and animal model development. For
21 pathogen reduction, we've been able to develop five new

1 photosensitizers for UVA-light-based, naturally
2 occurring molecules with bactericidal pathogen
3 reduction efficacy in plasma with up to six log
4 reduction of the pathogens.

5 The photosensitizers include vitamins K3, K5,
6 and vitamins B1 and B6, and also the naturally
7 occurring molecule benzophenone. These results were
8 published in 2018 and 2020. We've also introduced a
9 new concept of synergy by pairing photosensitizers that
10 can increase antibacterial efficacy by up to ten
11 thousandfold, and this was published in 2020. We've
12 also demonstrated viral reduction in whole blood with
13 vitamin K5 and UVA. That was a recent publication in
14 2021.

15 In the area of platelet cold storage, we've
16 looked at improvements to platelet quality after
17 storage, and we conducted a clinical trial in healthy
18 humans to demonstrate improved in vivo recovery and
19 survival of temperature-cycled platelets compared to
20 cold-stored platelets after they've been stored for
21 seven days. So, temperature cycling is 11 and a half

1 hours in the cold with a half-hour warm-up to 37
2 degrees. And this is then cycled for the duration of
3 the seven days.

4 In the results, the temperature cycling
5 increased the in vivo area under the curve two-and-a-
6 half-fold over the cold-stored platelets, so a major
7 improvement in terms of performance of the platelets
8 with minimal changes in blood bank practice. This
9 study was done in collaboration with American Red Cross
10 Laboratories and was published in 2018.

11 We've also used the pharmacological inhibition
12 of MAP kinase in platelets with a drug called VX-702 to
13 reduce the cold-storage-induced platelet lesions. And
14 this study was published in 2021.

15 So, in the area of models of transfusion, we
16 have validated a mouse model of in vivo human platelet
17 circulation against the humans in our clinical trial.
18 This model is an immunodeficient mouse. It's a SCID
19 mouse that can accept human platelets. And based on a
20 validation we did, the SCID mice can be used to predict
21 platelet in vivo recovery in humans. This study was

1 published in 2020.

2 We also have a model of chronically anemic and
3 immunodeficient beta-thal SCID mouse for demonstration
4 of oxygen delivery by human red cells. Because it's
5 immunodeficient, it can accept human red cells. And
6 the endpoint is the reduction of exercise-induced
7 lactate buildup by transfusion of human red cells. And
8 this study was published in 2016.

9 And then we also have a model of human
10 platelet transfusion outcomes in a septic rat model.
11 This is an immunodeficient rat that accepts human
12 platelets. And this model was able to demonstrate that
13 macrophage-mediated platelet aggregates in the spleen
14 once the platelets were transfused. This publication
15 was in 2019.

16 The future plans in my plan are for pathogen
17 reduction. We're going to look at pathogen reduction
18 of red cells and whole blood with pairs of
19 photosensitizers to improve the efficacy, and we're
20 also going to evaluate the quality of the treated
21 cells.

1 For platelet cold storage, we're looking to
2 extend cold storage up to 21 days with temperature
3 cycling and also with pharmacological inhibitors of
4 platelet activation. We're looking to plan a
5 collaboration for a clinical trial of cold-stored
6 platelets with the drug Vx-702 to define the kinetics
7 of platelets stored under those conditions in healthy
8 humans. For animal models, we're now working on
9 humanized mice to evaluate human platelet hemostasis.

10 So, this is the next program, and it's under
11 the direction of Dr. Jan Simak. The title of the
12 program is Investigation of Potential Toxic Effects of
13 Biologic and Engineered Nanoparticles and
14 Microparticles in Blood and Blood Products and their
15 Biomarker Applications.

16 There are two objectives and research projects
17 under this title. The first one is in vitro evaluation
18 of effects of engineered nanomaterials on vascular
19 endothelial cells, and that's part of the
20 Nanotechnology CORES Grant. And the second one is
21 investigation of cell membrane and protein

1 microparticles in blood and blood products, their
2 biomarker applications, and potential role in vascular
3 injury.

4 The accomplishments of this program are -- for
5 Project 1, they're the PAMAM dendrimer model of effects
6 of spherical nanoparticles on cultured endothelial
7 cells -- and this was published in 2019 -- and the
8 design and ongoing validation of a panel of in vitro
9 assays -- and this was published in a series of
10 publications from 2016 to 2018 -- and also a protein
11 particle model using protein corona on engineered
12 nanoparticle core for investigation of adverse effects
13 for protein particles in biologics. And this work is
14 done in collaboration with Dr. Scott's labs in OTAT.

15 The accomplishments for Project 2 are the
16 comprehensive analysis of platelet extracellular
17 vesiculome using a panel of high-resolution analytical
18 and imaging methods -- and this was published in 2018.

19 This publication also distinguished four
20 different pathways for platelet extracellular vesicles
21 released from activated platelets, and as well as

1 characterization of six percent DMSO cryopreserved
2 platelets with a focus on platelet membrane
3 disintegration, irreversible platelet damage, and
4 marked release of platelet extracellular vesicles with
5 thrombin generation procoagulant activity.

6 And these vesicles contribute about 25 percent
7 of procoagulant activity of cryopreserved platelet
8 products. And this was published in 2016.

9 The future plans for Dr. Simak's program are a
10 development of assays for characterization of membrane
11 and protein submicron particles in biologics with a
12 focus on nanoparticle tracking analysis, atomic force
13 microscopy, asymmetric flow field flow fractionation,
14 and high-resolution flow cytometry; also to develop new
15 methods of platelet cryopreservation to achieve
16 preservation of fully functional platelets -- and this
17 is with investigation of different cryoprotectants and
18 ice recrystallization inhibitors -- also, to
19 investigate cryoprotective effects of various
20 engineered nanomaterials for platelet cryopreservation,
21 and to investigate the cold resistance of platelets

1 from cold and freeze-tolerant species.

2 The third program is under the direction of
3 Dr. C.D. Atreya, and it's titled Ex Vivo Stored Blood
4 Component Safety and Quality: Evaluation of Novel
5 Methods for Pathogen Reduction and Functional
6 Regulation in Blood Components. The objective of this
7 program is to identify and evaluate promising methods
8 and technologies effective in pathogen inactivation
9 while preserving functional properties of the treated
10 blood components.

11 The accomplishments under this program are
12 evaluation of 405 nanometer light as a novel pathogen
13 reduction technology for blood component safety. This
14 research project generated seven publications in peer-
15 reviewed journals, one patent application that was
16 filed by the FDA, and also one cooperative research and
17 development agreement, or CRADA.

18 Also under these accomplishments are the
19 evaluation of microRNA-based regulatory mechanisms in
20 ex vivo-stored blood cells with reference to platelet
21 functions. And this resulted in nine publications in

1 peer-reviewed journals, and also a whole blood microRNA
2 analysis of hemophilia A patients, and led to discovery
3 of microRNA regulation as a mechanism for hemophilia A.
4 And this resulted in five publications in peer-reviewed
5 journals.

6 The rationale for the 405-nanometer light as a
7 tool for blood safety is summarized here. Microbicidal
8 efficacy of 405-nanometer light has been well
9 established as an alternative to UV light. It can be
10 used at levels that are lethal to microorganisms
11 without harming the exposed mammalian cells.

12 In situ pathogen reduction treatment without
13 external photosensitizers is thus possible, unlike with
14 UV-A or UV-B light. The light-excited endogenous
15 porphyrins produce reactive oxygen species, including
16 hydrogen peroxide and singlet oxygen, leading to
17 oxidative damage and microbial cell death.

18 The proof of concepts for the 405-nanometer
19 light effect are summarized on this slide. This group
20 tested a number of pathogens, including gram-positive
21 bacteria and gram-negative bacteria, several viruses,

1 and also protozoa. And they were able to show that
2 there's up to a greater-than-five-log reduction of
3 these pathogens with a reasonable dose of UV light in
4 the 200 joules per centimeter range.

5 The effect on blood components was that it did
6 not alter platelet in vitro metabolic parameters, and
7 the treated platelets were able to retain their
8 platelet aggregation potential. The treatment also
9 preserved plasma protein integrity -- and these studies
10 are ongoing -- and preserves human platelet in vivo
11 survival and recovery function in the SCID mouse model.
12 Studies of this treatment on red cell is currently
13 ongoing.

14 So, in summary, studies with 405-nanometer
15 light provided proof of concepts on microbicidal
16 efficacy and preservation of plasma integrity and
17 platelet functional parameters. And overall, these
18 concepts warrant further comprehensive evaluations
19 towards an alternative to the existing pathogen
20 reduction technologies.

21 Okay. So, another project in this group is

1 the evaluation of microRNA-based regulatory mechanisms
2 in ex vivo stored platelets. The objective here is to
3 identify platelet functions relevant to in vitro
4 quality that are regulated by microRNAs.

5 And as way of background, platelets are
6 terminally differentiated and enucleated, do not have
7 gene transcriptional regulatory mechanisms, but they
8 have abundant microRNAs, which are the small noncoding
9 regulatory RNAs.

10 The existence of microRNA-based post-
11 transcriptional regulation of messenger RNA is known in
12 platelets. Preservation of platelet shape, activation
13 potential, and ATP conservation in storage are
14 important for platelet in vivo functions. And how
15 these functions are regulated in stored platelets is
16 currently not known.

17 So, in summary, this group identified
18 microRNA-223 regulation of platelet septine-2 and -6,
19 which are important for preserving platelet shape. It
20 identified microRNA-320c and microRNA-181a, a
21 regulation of platelet activation through regulation of

1 RAP1b protein, which is Ras-related and important for
2 platelet activation.

3 It also identified microRNA-570 interaction
4 with mitochondrial ATPase Subunit G, ATP5L, in stored
5 platelets. And these publications range from 2017,
6 2018, and 2020.

7 So, future plans for Dr. Atreya's program are
8 in the evaluation of 405 nanometer light as a novel
9 pathogen reduction technology for blood component
10 safety, and these plans include, based on the proof of
11 concept developed so far, further comprehensive
12 evaluations following additional bloodborne pathogens
13 will be evaluated. And there's a series of viruses and
14 protozoa that will be tested.

15 The plan is to identify the optimal light dose
16 that effectively inactivates pathogens, to evaluate
17 platelet and plasma coagulation function following
18 treatment with the optimal light dose, and to examine
19 pathogen reduction capability in stored red blood
20 cells.

21 In the area of evaluation of microRNA-based

1 regulatory mechanisms in ex vivo stored blood cells
2 with reference to platelet functions, the plans are to
3 continue examining the role of microRNAs and messenger
4 RNAs identified in our microRNA/messenger RNA profiling
5 with a reference to platelet function and quality, and
6 also to evaluate the platelets after exposure to 405
7 nanometer light to identify potential microRNA
8 biomarkers of in vitro quality.

9 So, that concludes my presentation. I'd be
10 happy to answer any questions.

11 **DR. RICHARD KAUFMAN:** Thanks very much.

12

13 **Q&A SESSION**

14

15 **DR. RICHARD KAUFMAN:** Are there any questions
16 from the group? Srid.

17 **DR. SRIDHAR BASAVARAJU:** Hi. Thanks for
18 presenting that. That was interesting. The question I
19 had was there's only one PRT technology on the market
20 right now, as you know, the Cerus technology. And it
21 appears that you're trying to develop, potentially,

1 another one, right?

2 How long do you think before any of these
3 would actually be available in clinical settings if you
4 had to guess? I know that's just speculative. I'm
5 just kind of curious. That's all.

6 **DR. JAROSLAV VOSTAL:** Well, it's really hard
7 to tell. I can tell you for reference that it took
8 about 20 years to build up the Cerus product from the
9 original concept through the clinical trials and
10 eventual approval. So, there's a lot of efficacy and
11 safety issues that have to be covered with the chemical
12 treatments of the cells.

13 **DR. SRIDHAR BASAVARAJU:** So, would you guys
14 see that that through, or at some point, do you license
15 it out to another company or something like that?

16 **DR. JAROSLAV VOSTAL:** So, I think we would --
17 what we try to do is develop proof of concepts that
18 things can be done, like for example, pathogen
19 reduction whole blood or red cells. And we're hoping
20 that there's somebody else out there in the marketplace
21 who will be able to pick up on that and take it further

1 and commercialize it. Our goal is not to commercialize
2 anything that we develop.

3 **DR. RICHARD KAUFMAN:** All right. Dr. Perkins,
4 please. Dr. Perkins, are you there?

5 **MR. MICHAEL KAWCZYNSKI:** Dr. Perkins, make
6 sure you're unmuted with your own phone.

7 **DR. JEREMY PERKINS:** Thank you. That was --

8 **MR. MICHAEL KAWCZYNSKI:** There you go.

9 **DR. JEREMY PERKINS:** So, for the 405-nanometer
10 light affecting bacterial pathogens through exciting
11 their endogenous porphyrins, does that also affect
12 lymphocytes? I mean, one of the advantages of
13 psoralen-treated UV light is that you also get
14 lymphocyte reduction, which for oncology patients and
15 patients with severe immunodeficiency reduces the risk
16 of transfusion-associated graft-versus-host disease.

17 **DR. JAROSLAV VOSTAL:** Well, I think that's a
18 very good question. I don't think that Dr. Atreya's
19 group is focused on that yet. I think maybe they will
20 in the future.

21 **DR. JEREMY PERKINS:** Thank you.

1 **DR. RICHARD KAUFMAN:** All right. Any other
2 questions?

3 All right. Dr. Vostal, thanks very much.

4 **DR. JAROSLAV VOSTAL:** Okay, thank you.

5 **DR. RICHARD KAUFMAN:** So, our next section is
6 designated for the open public hearing.

7

8 **OPEN PUBLIC HEARING**

9

10 **DR. RICHARD KAUFMAN:** However, due to no
11 formal oral requests being received, we'll be moving on
12 to the closed session for Topic II.

13 **MR. MICHAEL KAWCZYNSKI:** Do we have an
14 estimated time as to when we will be coming back from
15 the closed session so we can tell the public? Please
16 keep your cameras off for the moment. Hold on.

17 **DR. BRENDA GROSSMAN:** Fifty minutes.

18 **MR. MICHAEL KAWCZYNSKI:** Okay. All right.
19 So, just give me a second. You said 50 minutes? So,
20 roughly around 2:20. Okay.

21 So, Studio, if you can make an announcement

1 and put up there that we should be returning around
2 2:20, and then cut our feed.

3

4 **BREAK FOR TOPIC II CLOSED SESSION**

5

6 **TOPIC III: OVERVIEW OF DETTD RESEARCH PROGRAMS**

7

8 **MR. MICHAEL KAWCZYNSKI:** Just give me a moment
9 until I get it clear. All right, I'd like to welcome
10 back the public. We just completed our second session.
11 Now we're going into our third for today, so I'm going
12 to hand it back to Dr. Richard Kaufman, today's Chair.
13 Dr. Kaufman, take it away.

14 **DR. RICHARD KAUFMAN:** All right, thank you.
15 So I'm delighted to introduce Dr. Hira Nikhasi who's
16 the director of the Division of Emerging and
17 Transfusion Transmitted Diseases. Dr. Nikhasi.

18 **DR. HIRA NIKHASI:** Thank you, Dr. Kaufman, and
19 I want to thank all the Committee members on the
20 Advisory Committee as well as the scientific committee
21 who site visited our program. So, I will give you an

1 overview of our Research and Regulatory Program of the
2 Division of Emerging Transfusion and Transmitted
3 Diseases. I am the director. My name is Hira Nikhasi
4 and I'm the director of the division. Please forgive
5 me because I have an allergy, so I may have to cough in
6 between.

7 The mission of the Division of Emerging and
8 Transfusion Transmitted Diseases -- hence, I will call
9 it DETDD because it's a mouthful, is to ensure the
10 blood safety and availability of our nation. About 14
11 million units are transfused annually of which 10
12 million are red blood cells, approximately 2 million
13 platelets, and approximately 2.4 million plasma.

14 Now the risk of transfusion-transmitted
15 infections has been significantly reduced with the
16 introduction of FDA licensed or cleared screening
17 tests.

18 And in those categories, we have a couple
19 nucleic acid tests as well as serological tests. And
20 just to give you an idea of what front we are covering,
21 we have the retroviruses HIV1/2 Group O, for nucleic

1 acid, hepatitis-wise CMV, West Nile, Babesia, and until
2 recently Zika because we have stopped testing because
3 of -- due to reduced incidents of Zika. Then we also
4 have assays in the serological framework which is,
5 again, retroviruses, hepatitis. In addition to that,
6 we have T. cruzi and Chagas disease, Syphilis, and CMV.

7 Now, the Division of Emerging and Transfusion
8 Transmitted diseases is organized in immediate office
9 of the director which includes me and Dr. Peyton
10 Hobson, who is the deputy director. Including that, we
11 have deputy associate director Julia Lathrop, and also
12 Dr. Sayah Nedjar, director of Managed Review, as well
13 as program support specialist Mr. Alex Repace. And it
14 is organized into four branches or labs. We have a
15 Product Review Branch, which is staffed with the full-
16 time reviewers.

17 These are scientists but they are full-time
18 reviewers but they do not do any research. They're
19 headed at the moment by acting chief, Dr. Pradip
20 Akolkar. We are in the process of hiring a permanent
21 chief for that. Then in the other research branches,

1 we have Laboratory of Bacterial and TSE Agent. Dr.
2 David Asher is the head of that. We have Laboratory of
3 Molecular Virology, and Dr. Indira Hewlett is the head
4 of that laboratory.

5 And the Laboratory of Emerging Pathogens, Dr.
6 Sanjai Kumar from today, and there are several PI's
7 included in there. And today, you know, you will hear
8 from Dr. Kumar about some of the PI's, not all the PI's
9 research programs or progress which is Dr. Alain
10 Debrabant, Robert Duncan, myself, and Dr. Kumar's
11 personal program.

12 Now briefly, what are the activities going on
13 in these branches? The Laboratory of Molecular
14 Virology conducts mission-related research on
15 pathogenesis of HIV, HTLV, and emerging retroviruses.
16 Laboratory of Emerging Pathogens conducts mission-
17 related research on emerging and reemerging blood-borne
18 parasitic, viral agents, and tick-borne pathogens.

19 The Laboratory of Bacterial and Transmissible
20 Spongiform Encephalopathy Agents conducts mission-
21 related research on bacteria such as treponema pallidum

1 and spongiform encephalopathy like vCJD. The Product
2 Review Branch, which I mentioned, conducts a full-time
3 review of the regulatory submissions.

4 The mission of the regulatory research
5 activities in the DETTD is to plan and conduct mission-
6 related research on pathogenesis of transfusion-
7 transmitted infections and blood-borne agents such as
8 retroviruses, hepatitis viruses, arboviruses. Most of
9 the agents which are listed, the division are working
10 on it -- parasites, Leishmania, Plasmodium, T. cruzi;
11 tick-borne agents like Babesia. And recently we are in
12 process of hiring a PI to work on other tick-borne
13 agents, for example, in plasma and other relevant
14 pathogens, bacteria, and then also the transmissible
15 spongiform encephalopathy agent.

16 In addition to conducting the mission-related
17 research, obviously, the research reviewers, as well as
18 the other full-time reviewers, are proactively ensuring
19 the safety of blood supply by reviewing regulatory
20 submissions including biological license applications,
21 pre-market applications, 510(k)s, INDs/IDEs for our

1 donor screening assays as well as the HIV diagnostic
2 assays.

3 We are evaluating and the group is evaluating
4 new technologies for rapid and multi-plex screening of
5 blood supplies. And in addition to that, the staff
6 develops policies and guidance documents for blood
7 screening tests and donor screenings and diagnostic
8 assays. In addition, we develop reference materials
9 for validation of the lot release assays and provide
10 scientific technical advice to other agencies such as
11 CDC, DOD, and Department of Health and Human Services,
12 the big department.

13 Outreach also includes talking to
14 stakeholders. For example, as many of you may have
15 seen in the past, we seek advice from the Blood Product
16 Advisory Committee just like today's one on several
17 issues about testing, implementation, and discussion of
18 any pathogen that we need to in our initial testing for
19 those pathogens to ensure the blood safety. We also
20 serve as liaison to blood establishments and device
21 manufacturers.

1 We collaborate with the WHO as a collaborating
2 center for individual diagnostics in helping them to
3 develop international standards, sometimes
4 participating in there, or sometimes renewing those IVD
5 standards. And then also, we participate in the Public
6 Health Subcommittee where we participate in horizon
7 scanning new emerging pathogens. I cannot move my
8 slides. Something happened here. Mike? Okay. Thank
9 you, Mike.

10 So accomplishments in the division are many.
11 And in the next couple of slides, I will talk about the
12 recent publication in the last two years, 2020 and
13 2021. The staff has published in high-impact journals,
14 for example, in the (inaudible) area, Leishmania or
15 Babesia or even T. cruzi and developed certain models,
16 3-D models, or talking about the pathogenesis, as well
17 as identifying the isotopes which could be used for
18 better sensitivity of assays.

19 Or the biomarkers for vaccines in the case of
20 Leishmania here or to prevent the transmission of, if
21 we have a vaccine, then transmission of Leishmania

1 parasite through blood. Next slide, please.

2 We also address directly sometimes the
3 regulatory questions which are out there. For example,
4 in the case of the infectious *Treponema pallidum*, the
5 question was, how long they survive in storage in whole
6 blood and platelets?

7 And the staff here worked on these questions
8 and developed a model and then responded to that
9 question and published in *Transfusion*. Then the staff
10 is also involved in testing or invalidating new
11 technologies for donor blood detection for diagnostic
12 purposes. We are also looking at changing dynamics for
13 certain arboviruses, for example, Zika virus and, are
14 they over period of changing these sequences? And some
15 of the studies have been done which shows that, over a
16 period of time, there are certain changes in order to
17 make sure that those changes do not affect our assays
18 for the detection. And thank God, so far, we have seen
19 the detection primers and pros which are selected in
20 those regions, they have not changed. Next slide,
21 please.

1 **MR. MICHAEL KAWCZYNSKI:** Dr. Hasi, if you
2 could see-- do you see the two arrows right here, down
3 here at the bottom of your PowerPoint?

4 **DR. HIRA NIKHASI:** I do, it's not moving.
5 It's not --

6 **MR. MICHAEL KAWCZYNSKI:** Go ahead and click on
7 it once.

8 **DR. HIRA NIKHASI:** I'm -- I did. Nothing
9 happened; it's stuck.

10 **MR. MICHAEL KAWCZYNSKI:** Okay, okay.

11 **DR. HIRA NIKHASI:** So, okay. This is the last
12 slide, so you don't have to do anymore after that.

13 **MR. MICHAEL KAWCZYNSKI:** That's okay. Go
14 ahead.

15 **DR. HIRA NIKHASI:** Thank you for your help,
16 Mike. So this summarizes the regulatory and research
17 accomplishments by the DETTD. And in the area of the
18 applications in last year and a half, we have reviewed
19 approximately 450 applications which includes
20 biological license applications, PMAs supplements,
21 510(k)s, INDs, IDEs, pre-submissions, which is

1 basically before any (inaudible) makes a test, they
2 come and talk to us. And there are a lot of meetings
3 with regard to them, and we provide them direction how
4 to develop those assays.

5 And so, I think that staff has been very busy
6 with that. And then, we have in the last year or so
7 licensed several donor screening assays and cleared
8 some HIV diagnostic assays. The publication for the
9 last one year has been approximately 22 publication.
10 And I gave you a flavor of that publications on
11 previous couples of slides. And then the division has
12 been able to secure funds from both intramural as well
13 as the extramural through collaborations as were
14 mentioned in the beginning by Dr. Orieji Illoh through
15 CRADAs, through NIH CRADAs, but also FDA funding
16 because these are competitive funding in the tune of
17 around \$2.5 million.

18 So I think overall, if I have to summarize,
19 the division has been on the cutting edge of the
20 science of emerging pathogens and is always ready to
21 respond to the pathogens which impact blood safety.

1 So with that, I will go to the last slide.
2 And I want to thank you all for listening, and I'm here
3 to answer any questions. Thank you.

4 **DR. RICHARD KAUFMAN:** Thank you very much.
5 Are there any questions from the group for Dr. Nakhasi?
6 Okay. Well, it looks like none, but thank you again
7 for your presentation.

8 **DR. HIRA NAKHASI:** Thank you.

9

10 **OVERVIEW OF LEP RESEARCH PROGRAMS**

11

12 **DR. RICHARD KAUFMAN:** Okay. And our final
13 presenter today will be Dr. Sanjai Kumar. And he
14 serves as chief of the Laboratory of Emerging Pathogens
15 or LEP. Dr. Kumar.

16 **DR. SANJAI KUMAR:** Yep, thank you. So, is the
17 timer set now?

18 **DR. RICHARD KAUFMAN:** Yep, you're all set.

19 **DR. SANJAI KUMAR:** Okay. Thank you, Dr.
20 Kaufman, for the introduction. And as Dr. Nakhasi just
21 said, there are a total of several principal

1 investigators in the Laboratory of Emerging Pathogens.
2 And of those, I'm going to summarize the research
3 programs of four principal investigators who are
4 conducting research in parasitic diseases. So the --
5 so those are myself, Dr. Nakhasi, Dr. Debrabant, and
6 Dr. Duncan.

7 So first I'll begin with my own research
8 program in detection, immunity, and pathogenesis of two
9 in-trial specific parasites of major public health
10 significance. Those are malaria and Babesia.

11 So I'm going to skip the public health
12 significance of malaria. From our mission perspective,
13 there is no FDA licensed donor screening assay vaccine
14 for malaria. The (inaudible) malaria vaccine is still
15 ongoing.

16 To this end, we have identified over 200 novel
17 Plasmodium falciparum gametocyte antigens. Of these,
18 one gene, which we call Pfg-17, based on our TPCR
19 assay, this detection target was more than 10-fold
20 sensitive in detecting infectious malaria virus in
21 endemic areas than the gold standard assay using the

1 female gametocyte gene detection target. So hence,
2 this assay has applications in donor screening for
3 malaria as well.

4 So next, from the same data set, antibodies
5 against two gametocyte antigens, Pf77 and PfMDV-1, were
6 able to block oocyst development in mosquitos were 93
7 percent and 84 percent, respectively. These antigens
8 are expressed throughout parasite lifecycle, which is
9 an unusual and unique property because most antigens
10 are still expressed, genetically conserved, and induce
11 naturally occurring antibodies and induce (inaudible)
12 in endemic areas.

13 And thereby, by any measure, these could be
14 considered ideal vaccine candidates. So in the past
15 few months, I have been able to set up a multi-
16 institution collaboration to produce these antigens in
17 mRNA vaccines (inaudible) studies. So that's where
18 this work is heading now.

19 Coming to the Babesia projects Babesiosis,
20 another major global health problem with the highest
21 number of (inaudible) transfusion-transmitted

1 infections occurred in this country, here itself.
2 Again, from mission perspective, FDA has recommended
3 (inaudible) screening for Babesia in year 2020. But we
4 still need assays of superior sensitivity to detect
5 lowest grade asymptomatic infections and understand the
6 mechanism for immunity and pathogenesis.

7 So what are the accomplishments so far? We
8 identified 56 immunodominant Babesia microti antigens.
9 Most of these were previously not described.

10 We improved genome annotation, domain
11 architecture, and evolutionary relationships of -- and
12 have identified potential virulent genes. A
13 combination of three antigens improved the sensitivity
14 of ELISA in detecting acute Babesia infections.

15 And finally, what came out of this work was we
16 developed and finishing work project on a multiplex
17 bead-based antibody assay that highly effectively
18 identifies asymptomatic infections in donor
19 populations. And it improved the detection of low-
20 grade out of the window period cases. So that
21 summarizes this part of our Babesia work.

1 So the next major aspect of Babesia research,
2 which is more complex to handle, is the deciphering the
3 mechanism of Babesia microti pathogenesis. So there's
4 two major questions here. One is does the Babesia
5 parasite actually sequester? Nobody has shown it so
6 far. I'm talking about Babesia microti here. And does
7 parasite sequestration and (inaudible) during the
8 tibial cells continue to chronic persistent infections?
9 That's direct relevance to blood safety because those
10 asymptomatic inducers are the one who causes
11 transfusion-transmitted infections.

12 And the second question is whether this
13 sequestration also leads to organ damage contributing
14 to severe disease akin to what happens in malaria. So
15 what we have accomplished so far is to identify at
16 least four antigens that have expressed on the surface
17 of infected iRBC and potential (inaudible)
18 cytoadherence host in the tibial cells.

19 Now we are pursuing this further in
20 cytoadherence assess to determine if Babesia microti
21 cells bind to receptors and host in (inaudible) cells

1 where these surface antigens such as ligand leading to
2 parasite sequestration that will address a major
3 discussion in the field. And coming to the second
4 question of mechanism of immunopathogenesis, we have
5 finished work on building a complete immuno (inaudible)
6 Babesia microti infection and reinfection immunity is
7 spanning over one year period in mice.

8 And we are starting pathogenesis studies. And
9 we know (inaudible) model of hamsters, we start to see
10 the disease more as a human disease. And we are
11 conducting studies in terms of human, as understanding
12 pathogens in humans. We are conducting in-depth flow
13 cytometry and animal sequencing in blood samples coming
14 from acute Babesia patients.

15 So the sum up what was presented. Just
16 looking at the major accomplishments, in last four
17 years, we published total of 21 papers. Some of the
18 examples are here, papers the major publications. Most
19 of the malaria work, especially the vaccine work, was
20 done under the CRADA path and malaria vaccine
21 initiative. And in four years, we received more than

1 \$2 million in direct funding on Babesia. We got one
2 patent actually issued now probably to Babesia microti
3 antigens, U.S. patents. And they have also filed
4 international patent.

5 We have research collaborative agreement with
6 Griffith University in Australia to work our Babesia
7 vaccines. And here is a list of our major
8 collaborators, which are our most significant
9 accomplishments. And through these collaborations, we
10 are able to do this work at this level.

11 Now I'm going to present the -- summarize the
12 work of Dr. Nakhasi. So his major objective is to
13 develop methods and animal models to evaluate
14 biomarkers of safety and efficacy of live attenuated
15 Leishmania parasite vaccines. The work he's doing for
16 last, more than 15 years.

17 So Leishmania and relevance to U.S. public
18 health. So it's a visceral-borne infection but also it
19 can be transmitted through a blood transfusion. So
20 hence, relevant to public health in this country also.
21 It is endemic to certain parts of United States. So

1 there are major transmission happenings inside the
2 United States. Apparently, donor screening is not an
3 option because it does not meet the incidence threshold
4 that would allow us to implement the universal donor
5 screening in the U.S. donor populations.

6 So then Dr. Nakhasi has taken this approach
7 actually, alternative approach. And his approach is to
8 reduce or minimize risk to blood safety through
9 vaccination. I mean, you vaccinate people, there is no
10 disease, and there is no risk to blood safety as a
11 consequence. And also, vaccination to U.S. travelers
12 and military personnel. Because the issue came 2-fold
13 in the Iraq war because (inaudible) the military
14 personnel started to come back home. They're stationed
15 in endemic areas and can be an alternative to enhance
16 blood safety. Okay. So next one.

17 So putting more perspective to the status of
18 vaccination against Leishmania. So there is no FDA
19 licensed vaccine currently, and obviously, there is no
20 successful vaccine so far. In the past, it has been
21 sort of accomplished in some countries through

1 inoculation with a low dose of wild-type Leishmania
2 parasites. That was Leishmania major species. It's
3 commonly known as Leishmanization process. That
4 provided protection in vaccinated individuals.

5 But the practice is discontinued now because
6 of flu infections, and it was not safe enough to be the
7 way a wide-type vaccine needs to be. It's just not a
8 long-term option. But it did provide a platform, a
9 proof of concept that vaccination is possible through
10 using whole Leishmania parasite vaccine. So building
11 on that idea, Dr. Nakhasi is developing the genetically
12 modified live-attenuated Leishmania parasite vaccines,
13 although they are extensively profiled for the safety
14 and evaluation of their efficacy and mechanism in human
15 models. This can serve as alternative to unsafe
16 leishmanization process.

17 Okay. Coming to his actual vaccine work now.
18 The earlier work was done with the Leishmania donor
19 vaccine construct, which is a more virulent form for
20 Leishmania species, and was rather deemed not that safe
21 because it developed infections are insufficient

1 attenuation in some volunteers that may lead to a more
2 serious form of disease.

3 So hence, he launched just another program of
4 (inaudible) vaccines the work which will be presented
5 today, here, the summary of each work. So where he
6 replaced -- which the new vaccine defers on the earlier
7 version in two forms. One is the new vaccine is based
8 on the rather benign form of Leishmania, Leishmania
9 major. So the disease, even if it happens in
10 volunteers, will be a more benign, mildly cutaneous
11 disease. And this vaccine is generated using this
12 CRISPR/Cas9 technology. So very well-defined, clean
13 deletion in the centrin gene.

14 But the cell centrin gene is used as the basis
15 of causing attenuation. And the next thing is it's
16 missing the antibiotic marker which was present in the
17 earlier one. So it's safer for human use. And the
18 subsequent results in safety and immunization and
19 safety profiling have showed the vaccinations in this
20 second-generation vaccine in preclinical study in
21 animal models induced robust immune response.

1 The vaccine was safe and efficacious against
2 homologous Leishmania major infection, the one which
3 causes the cutaneous version of disease, benign
4 version. And also, surprisingly, and safe and
5 efficacious against the more virulent form visceral
6 Leishmaniasis. So this one singular vaccine live-
7 attenuated should be able to cover both virulent form
8 of the disease and rather more benign form of disease,
9 both which are prevalent in different parts of world.
10 And subsequently should offer a safe alternative to the
11 Leishmanization process which can cause lesions.

12 So just summing up his accomplishments. A
13 total of 43 publications in high journals. One U.S.
14 patent has been issued, and one patent application has
15 been filed. The work has led to three cooperative
16 research and development agreements. And he has been
17 able to establish a consortium of national and
18 international collaborators.

19 And the idea being that together they will
20 promote the further preclinical testing in
21 collaboration development of experimental human model

1 and all these studies together which is across
2 different institutions across many continents. The
3 consortium was able to generate more than \$7 million of
4 extramural funding to support this work, and here is
5 the list of those who are part of this consortium
6 listed here. So now I'm going to move on to the work
7 of Dr. Alain Debrabant.

8 The major objectives of Dr. Debrabant's
9 program are to develop novel biomarker detection assays
10 for Chagas disease and study disease pathogenesis and
11 transmission.

12 So a bit about the Chagas disease itself and
13 its global and public health relevancy in United States
14 and also the blood transmission. It's caused by
15 *Trypanosoma cruzi*, which can be bloodborne although
16 it's vector-borne mainly but transfusion-transmission
17 infections do happen. So it's a bit of sufficient
18 problem in this country also.

19 About 300,000 infected individuals are
20 estimated in the United States including 76
21 autochthonous cases in southern states here. So there

1 is a low-level vertical transmission going on here.
2 There's also vertical transmission at a low rate in
3 about one to five percent of pregnant women. So risk
4 of transmission by blood transmission is now
5 significantly reduced. But the risk was deemed
6 sufficient enough that it led to the implementation
7 donor screening for antibody in 2007.

8 But, even with the donor screening for
9 antibody in place, there's still a need for alternative
10 biomarker detection assays to further include donor
11 testing and for rapid diagnosis and for some of the
12 purposes you'll see. And also, the program aims to
13 address and understand the mechanism of persistent
14 chronic Chagas disease, parasite persistence, and
15 vertical transmission.

16 So with that, coming to these projects and
17 major findings, so Dr. Debrabant identified and
18 characterized *T. cruzi* secreted proteins, (inaudible)
19 proteins that could be further developed as novel
20 biomarkers of Chagas disease both of pathogenesis and
21 for diagnosis and subsequently generated high affinity

1 and specificity detection reagents in the form of
2 aptamers and monoclonal antibodies. These reagents
3 were used to develop new assays in ELISA format and in
4 lateral flow formats that detect the T. cruzi
5 biomarkers of infection in blood from chronically
6 infected mice and in the asymptomatic Chagas infections
7 in endemic areas so those either asymptomatic forms or
8 those experiencing the cardiac symptoms and with the
9 positive T. cruzi infection.

10 So the idea is to develop a sort of a
11 biomarker for definite diagnosis. One of these
12 biomarkers, T. cruzi-5171-based ELISA detected a
13 reduction in biomarker levels post drug treatment of
14 mice and in patients suggesting its utility to assess
15 efficacy of drugs in pre-clinical and clinical stages
16 of development.

17 So it allows it to measure drop of this
18 particular biomarker as the successful drug treatment
19 progresses and hence leading to drop in the parasite
20 burden both in the mouse model or in individuals. And
21 so the implications for preclinical evaluation in mice

1 or in clinical efficacy studies is obvious then. And
2 then collaborative studies are ongoing to further
3 evaluate these assays for treatment efficacy and cure
4 in clinical trials and for rapid diagnosis. So that
5 includes both for diagnostic part and for drug efficacy
6 use of these biomarkers in collaborative studies.

7 So the next part of this study is Chagas
8 disease pathogenesis and transmission. So by proteomic
9 studies through (inaudible) survey and by live
10 fluorescence studies, Dr. Debrabant's group
11 demonstrated the presence of T. cruzi proteins.

12 These three proteins are GRP78, HSP70, and
13 Mucin at the surface of infected cells and in the form
14 of extracellular vesicles suggesting their role in
15 pathogenesis. And also using transgenic (inaudible) T.
16 cruzi parasites, they used these parasites to study
17 parasite dissemination in different parts of body and
18 in organs in chronic infection. And what they find is
19 that the gut as a major parasite reservoir in a mouse
20 model of chronic T. cruzi Chagas disease. A finding
21 which has been confirmed by the other groups recently.

1 In addition, they developed an in vitro 3D
2 culture system of human trophoblasts and showed that
3 3D-grown JEG-3 cells -- those are trophoblasts aligned
4 -- they were rather refractory to T. cruzi infection
5 and released paracrine factors of resistance to
6 infection, suggesting the role of trophoblasts in
7 limiting parasite vertical transmission. And that goes
8 back to the observation that only one to five percent
9 of pregnant women transmit infection through placental
10 transmission to the child during pregnancy.

11 So the further study, I think that's going to
12 be the further focus of Dr. Debrabant's work now is to
13 study the mechanisms for immune evasion and parasite
14 persistence. That's how the parasite can invade the
15 endothelial cells and why the immune (inaudible)
16 establish chronic infection.

17 So again, summing up these accomplishments.
18 There have been publications in each of these major
19 projects here. So the work on the diagnosis both in
20 acute and asymptomatic infection in endemic areas and
21 that drug efficacy is at least based on biomarker

1 detection, those she is pursuing through
2 collaborations, international collaborations, and also
3 the assay development is also being further progressed
4 both through academia and industry. So that's where it
5 stands now.

6 I'm going to -- I think my time is ending now
7 also. I'm going to talk about Dr. Duncan's program
8 now, advanced technology for reducing the risk of
9 transfusion transmission of infectious agents. So I'm
10 going to summarize three projects. Two projects are
11 complete, and a third one is still ongoing.

12 So the first one is Ebola resequencing
13 microarray project. So, the idea around here,
14 resequencing microarray, was designed and developed
15 with the idea to sequence the Ebola virus genome in an
16 efficient and computationally simple process. And the
17 project came into being during this Ebola epidemic in
18 west Africa.

19 And so as a consequence of this work, three
20 different Ebola virus strains were fully sequenced,
21 Zaire strain, Bundibugyo virus strain, and the Sudan

1 virus and Tai Forest virus strain. And then further,
2 he confirmed the sequencing to next generation
3 sequencing to make sure there is a sequence validation
4 and no discrepancies.

5 So the next idea was how to evaluate this
6 assay, will be able to detect a genetic drift during an
7 ongoing epidemic. And that was the main idea to follow
8 that genomic drift. So for that, he relied on his
9 laboratory-generated VSV-Ebola-GP virus to start, and
10 that was subjected to three passages in the presence of
11 neutralizing antibodies. And after three passages,
12 this D amino single test minus a substitution.

13 This is a dominant mutation that was seen
14 (inaudible), really and Dr. Duncan said this Ebola-RMA
15 device was able to detect this (inaudible). So these
16 studies indicate that Ebolavirus-RMA device can detect
17 genomic drift and is suited for further evaluation as a
18 tool to sequence clinical specimens in epidemiological
19 and surveillance studies. And the device can be
20 modified using this model for any emerging epidemic.

21 So next is this laser-based detection project

1 for multiplex pathogen detection in blood. So this was
2 the device developed by Creative LIBS Solutions, and
3 Dr. Duncan was sent this device for the evaluation
4 here. So he was able to use these for two parasites,
5 Gram-negative and Gram-positive bacteria, and HIV-
6 spiked blood samples. And then subsequently, he
7 (inaudible) samples using HIV CV positive and negative
8 samples. And also, I mean, he got sufficient
9 sensitivity results. But the project is now complete
10 now here, and no more further work will be done on this
11 one.

12 Coming to the last project here, this blood-
13 borne pathogen resequencing microarrays. The two
14 (inaudible) tested in the first one, and then he tested
15 around five pathogens. And then he expanded to 55
16 blood-borne viruses, 5 bacterial and 16 protozoan
17 species, strains. And they were able to retain
18 sensitivity detection rapid analysis. And also, what
19 he's doing currently is comparing this to the nanopore-
20 based sequencing platform to compare these two
21 sequencing matters. And with that -- so this is the

1 only part of the project which is still ongoing. The
2 last part comparing the nanopore.

3 And here are his major publications related to
4 all these three projects. And I'm going to stop here
5 and take any questions if there are any.

6 **DR. RICHARD KAUFMAN:** All right. Are there
7 any questions or comments from the group?

8 **MR. MICHAEL KAWCZYNSKI:** All right. Just
9 remember, you can please raise your hand. You did such
10 a great job. Oh, here we go. Dr. Stapleton, please.

11 **DR. JACK STAPLETON:** Sorry, I meant to not
12 raise it. I was trying to respond.

13 **DR. RICHARD KAUFMAN:** No problem.

14 **DR. SANJAU KUMAR:** You can still ask a
15 question. Okay, thank you then.

16 **MR. MICHAEL KAWCZYNSKI:** All right.

17 **DR. RICHARD KAUFMAN:** Thank you, Dr. Kumar.
18 All right.

19 **MR. MICHAEL KAWCZYNSKI:** Sir, if you wouldn't
20 mind or Christina. Christina, this is the portion
21 where we are going to conclude the public portion of

1 today's meeting. Is that correct?

2 **MS. CHRISTINA VERT:** Yes. That's -- did you
3 mention the open public hearing?

4 **MR. MICHAEL KAWCZYNSKI:** Oh, no, we have to --
5 sorry, open public hearing. Here you go. Go ahead,
6 sir.

7

8 **OPEN PUBLIC HEARING**

9

10 **DR. RICHARD KAUFMAN:** All right, so our next
11 section is designated for an open public hearing.
12 However, due to no formal oral requests, we'll be
13 moving on to the closed session for Topic III.

14 **MR. MICHAEL KAWCZYNSKI:** Okay.

15 **MS. CHRISTINA VERT:** Thank you.

16 **MR. MICHAEL KAWCZYNSKI:** Is there any formal
17 closeout? We just want to make sure before we end the
18 feed publicly. So just want to make sure if there was
19 any formal steps. This portion of the public session
20 has concluded. So to the studio, you can please end
21 the feed. We thank everybody who has joined us today

1 and have a great rest of the day. And then in a
2 moment, we will be going back to the closed session
3 which will then run to the conclusion of the meeting.

4

5

BREAK FOR TOPIC III CLOSED SESSION

6

7

MEETING ADJOURNED AFTER CLOSED SESSION