

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FDA'S RARE DISEASE DAY 2022
SHARING EXPERIENCES IN RARE DISEASES TOGETHER

VIRTUAL PUBLIC MEETING
Conducted by Food & Drug Administration
Friday, March 4, 2022
9:00 a.m.

White Oak Campus
10903 New Hampshire Avenue
Silver Spring, Maryland 20993

Reported by: Terrell Lee
JOB No.: 5033064

1 R E C O R D I N G

2 DR. RETZKY: Thank you for joining us today for FDA's Rare
Disease Day

3 2022. My name is Sandy Retzky. I'm the Director of
4 the Office of Orphan Products Development. Today we
5 are very fortunate to have Dr. Robert Califf, our new
6 FDA Commissioner joining us for opening remarks.

7 Thank you, Dr. Califf.

8 DR. CALIFF: Thanks, Sandy. It's really
9 great to be back at the FDA. This is my second run,
10 as most of you know, so it wasn't a whole new thing.
11 Thank goodness, because there's so much going on at
12 the FDA and there's so many priorities that we have,
13 especially with the pandemic having been such a big
14 issue. But we're all aware that development of
15 treatments for rare diseases is really critical to our
16 public health.

17 There are special issues that we have to pay
18 attention to and it -- I think today will be a really
19 interesting day.

20 DR. RETZKY: I agree. You know, our
21 workforce, our FDA workforce is so important and in
22 planning this event which has the theme of sharing

1 experiences in rare diseases together, we wanted to
2 emphasize some of the personal experiences of the FDA
3 reviewers of products to treat rare diseases.

4 Now, reviewers are the teams of FDA
5 scientists, clinicians, biostatisticians. There's a
6 whole host of multidisciplinary, very talented people
7 that review the files for rare diseases and, you
8 know, the whole process, it's a gating process of
9 getting a product to the marketplace and with each
10 phase of clinical development, our FDA reviewers have
11 to ensure that all of the studies are well designed
12 both for efficacy as well as for safety and that the proper
13 protections are in place for human subjects.

14 From the outside, it may not be so apparent
15 how much work is done at FDA to try to get products
16 for unmet needs to patients as quickly as possible.
17 There are special challenges in developing products
18 for rare diseases and I know you're very well aware of
19 that. And so we wanted to share our own narratives on
20 what we do every day and why it's so meaningful. The
21 amount of activity and the breadth of expertise on
22 products for rare diseases is just amazing.

1 DR. CALIFF: Well, I'm pretty excited about
2 the makeup of today and, you know, I think it's very
3 hard for the public to grasp how much work there is
4 involved as you just pointed out. You know,
5 scientists think of great ideas, patients and families
6 hope for the best, but in the end, it's a back and
7 forth between the FDA reviewers and those developing
8 the therapies over the course of sometimes many years
9 because, you know, sometimes things don't work and you
10 have to make adjustments.

11 And so this interaction between the reviewers
12 and the increasing attention to the needs of patients
13 is something, I think today will be great but I hope
14 we can expand this and make the public more aware of
15 how critical this is.

16 DR. RETZKY: Yeah, I couldn't agree more, Dr.
17 Califf. And along those lines, you know, I was a
18 medical reviewer in CBER for a number of years and I
19 worked on lots of rare disease files and I can easily
20 say that my most meaningful experiences at FDA have
21 been as a reviewer interacting with patients,
22 caregivers, and advocates who sponsor meetings.

1 It really helped me to know what they think
2 and what will be meaningful and what's important in
3 their lives. You can't get this information from
4 reading articles and books or even talking to experts
5 and I can't tell you how much those interactions meant
6 to me personally as well as professionally and they
7 inspire me every day in my work at FDA.

8 DR. CALIFF: Well, you know, I've spent over
9 30 years as a busy cardiologist and spent a lot of
10 time with patients and families and know how difficult
11 this can be. I also have a daughter with congenital
12 heart disease, and so I know what it's like as a
13 parent to be anxious and worried, appropriately, about
14 the wellbeing of a child who has a disease which is
15 not so common. So I'm very confident that today's
16 discussions will give us some really good examples of
17 how things have worked and probably, and I certainly
18 hope this is true, will lead to even better ideas.
19 The importance of this interaction just can't be
20 underestimated.

21 DR. RETZKY: I completely agree. So thank
22 you so much for joining us today, Dr. Califf. I'm so

1 happy that you could come today. And to kick things
2 off for this meeting, I am going to turn things over
3 to Dr. Lewis Fermaglich who will be stewarding today's
4 events. Lewis.

5 DR. FERMAGLICH: Thank you, Drs. Retzky and
6 Califf. I'm honored to once again act as your Master
7 of Ceremonies for this special day, FDA Rare Disease
8 Day 2022. My name is Lewis Fermaglich and I'm a
9 medical officer in the Office of Orphan Products
10 Development. I've been at FDA for five years now
11 after practicing as a General Pediatrician for ten
12 years.

13 As a primary care doctor, I figured FDA was a
14 faceless black box of government workers poring over
15 labels and making decisions about which drugs I could
16 or couldn't prescribe to my patients. Since I've
17 started working here, I've been struck by how diverse,
18 talented, thoughtful, compassionate and dedicated the
19 workforce at FDA really is. We're physicians,
20 pharmacists, chemists, lawyers, social scientists,
21 statisticians, biologists, toxicologists, and
22 engineers as well as parents, children, patients,

1 siblings and friends who truly care about the public
2 health of our country.

3 We hope today's meeting gives you a better
4 idea of who FDA really is and what we do every day to
5 improve the availability of medical products to treat
6 rare diseases.

7 Today you'll hear from FDA's reviewers, the
8 ones evaluating the data, analyzing the applications
9 submitted by sponsors, listening to patients and their
10 advocates and spending countless hours laying the
11 groundwork for the decisions made by FDA. Reviewers
12 are the frontline workers for the Agency. You'll hear
13 what they actually do and think about when they review
14 an application for a new drug, biologic product or
15 medical device to treat a rare disease and what it
16 means to them.

17 FDA's Rare Disease Day will focus on how
18 these reviewers evaluate products for patients with
19 rare diseases. FDA's dedicated to helping these
20 patients and one small way we're demonstrating that
21 support is by participating in the National
22 Organization for Rare Disorders campaign "Light Up for

1 Rare".

2 Every day this week from dusk to dawn, we're
3 illuminating Building 1 at FDA's White Oak Campus
4 with the colors of Rare Disease Day to raise awareness
5 about rare diseases and their impact on the lives of
6 patients and their families.

7 As you can see from the photo, the
8 beautifully lit building used to be a Naval Ordnance
9 Laboratory and just like some rare disease treatments,
10 has been repurposed as the FDA's headquarters. It's
11 uplifting to know that a building that was once used
12 to manufacture weapons of war is now used to ensure
13 medical products are safe and effective.

14 The theme of today's meeting is "Sharing
15 Experiences in Rare Diseases Together". This morning's
16 panels are unscripted conversations with reviewers
17 from each of FDA's medical product Centers. Each
18 panel will tell their own narratives of what it's like
19 to review rare disease files. The stories they'll
20 tell are uniquely their own.

21 The Oncology Center for Excellence will talk
22 about the review processes that led to the approvals

1 of two drugs to treat rare tumors. The Center for
2 Biologics Evaluation and Research will discuss the
3 collaborative process involved in reviewing gene
4 therapies for rare neurocognitive and
5 neurodevelopmental disorders in children with a team
6 consisting of more than just physicians. They'll
7 include scientists with manufacturing expertise and
8 consultants from across FDA to advise on appropriate
9 endpoints.

10 The Center for Drug Evaluation and Research will
11 talk about how FDA is involved in a public-private
12 partnership to help develop endpoints for a rare
13 disease, amyloidosis. And, finally, the Center for
14 Devices and Radiological Health will describe how
15 their team uses patient input and benefit-risk
16 assessments toward the approval of devices for
17 patients with rare orthopedic conditions.

18 After lunch, we're honored to have remarks
19 from the Principal Deputy Commissioner of FDA, Dr.
20 Janet Woodcock. Afterwards, our first afternoon panel
21 will shine the spotlight on the most important aspect
22 of the review process and the reason FDA does the work

1 it does, the patients.

2 We'll hear directly from patients who've been
3 diagnosed with rare diseases about their interactions
4 with FDA. For our final panel of the day, we'll hear
5 from each of the Centers about exciting initiatives
6 being developed to continue to improve FDA's work to
7 address rare diseases. We call it "Our Future Journey".

8 After the last panel, we'll have an open
9 public comment period. Participants registered for
10 this prior to the meeting. Participation is on a
11 first come, first served basis and speakers will each
12 have two minutes to speak. After the open public
13 comment period, Dr. Retzky will provide closing
14 remarks.

15 This year we're using an interactive platform
16 called CrowdCompass by Cvent which will create a
17 virtual meeting space that will give you access to the
18 agenda and speaker bios and allow you to network with
19 other FDA Rare Disease Day attendees. Just go to the
20 link on the screen now or use your smartphone's camera
21 to scan the QR code on the slide here.

22 A few comments about meeting etiquette. We

1 encourage all individuals to contribute to the
2 dialogue and we appreciate the opportunity to hear
3 your perspectives. The views expressed are personal
4 opinions. You can ask a question by clicking the "ask
5 a question" icon or by emailing
6 oopdorphanevents@fda.hhs.gov and we'll try to respond
7 to as many of them as time permits.

8 For transparency purposes, when you're
9 sharing a comment, we ask that you please disclose if
10 you're affiliated with an organization or if you have
11 any significant financial interest in rare disease
12 medical product development.

13 A public docket will be open until April 8th
14 to submit comments. We highly encourage you to do so.
15 A webcast recording and a transcription of the meeting
16 will be available on the FDA meeting website following
17 the conference and will be available for one year
18 after the event. Evaluation forms will be emailed to
19 you following the meeting.

20 After the meeting ends today there will be
21 additional opportunities to interact with FDA. The
22 Office of Orphan Products Development and the Office

1 of Patient Affairs are here and want to stay in
2 contact with you, whether it's helping you stay
3 connected with other activities at FDA or addressing
4 any future questions you might have.

5 This slide contains our contact information.
6 For media inquiries, please contact our Press Officer,
7 April Grant. Also, if you choose to tweet about
8 today's meeting, please use #fdarare2022. Let's start
9 the program. First up we have a panel of reviewers
10 from the Oncology Center for Excellence at FDA
11 moderated by Dr. Martha Donoghue, the acting Associate
12 Director for Pediatric and Rare Cancer Drug
13 Development. They'll be discussing their experiences
14 with development programs leading to approval of two
15 new drugs to treat rare tumors. Dr. Donoghue.

16 DR. DONOGHUE: Thank you so much and good
17 morning, everyone. My name is Martha Donoghue and as
18 mentioned, I'm a pediatric oncologist. I'm also a
19 mother of four sons and I've worked at the FDA in the
20 Office of Oncologic Diseases for about 12 years now
21 which is hard for me to believe. I currently help
22 oversee the work done by the division that oversees

1 development of new drugs to treat patients with a
2 variety of types of cancers including lung cancers,
3 brain cancers, pediatric solid tumors, all of which
4 are rare, and other rare cancers such as thyroid or
5 neuroendocrine cancers.

6 Thank you so much for taking the time to join
7 us bright and early on this Friday morning. Over the
8 next 45 minutes or so, my colleagues and I will try to
9 give you an inside glimpse into what many have called
10 the "black box of the FDA". Specifically we'll talk a
11 bit about our work leading up to recent approvals of
12 two drugs to treat rare tumors. The first is
13 selumetinib for the treatment of pediatric patients
14 with plexiform neurofibromas and the other is called
15 tebentafusp tebentafusp, or KIMMTRAK which is easier to pronounce,
16 which was a very recent approval this year for the
17 treatment of patients with ocular melanoma.

18 The paths leading to approval for these two
19 drugs are very different, just like all rare diseases
20 are different, and you know, I think our discussion
21 will reflect the fact that successful development of
22 drugs to treat rare cancers really have to be context-

1 specific and tailored to the needs of patients with
2 that disease as well as the disease rarity and also
3 that collaboration and strong communication between
4 all parties, both within FDA and outside of the FDA is
5 vital.

6 In a minute, I'll ask my colleagues to
7 introduce themselves but first I'd like to give you a
8 general idea of the flow of this panel discussion.
9 First, my colleagues Dr. Diana Bradford and Dr. Denise
10 Casey will discuss the development of selumetinib and
11 then Drs. Jamie Brewer and Elizabeth Spehalski will
12 discuss KIMMTRAK for the treatment of ocular melanoma
13 and I hope to reserve the last ten minutes or so so
14 that we can address any questions you might have for
15 the panelists, so please do submit questions if you
16 have any.

17 And now I'd like to ask my colleagues to
18 introduce themselves briefly, and if you will, just
19 please describe your background a bit and what brought
20 you here to FDA. And I think we'll start off with Dr.
21 Diana Bradford.

22 DR. BRADFORD: Good morning, everyone. It's

1 nice to be here. I'm Diana Bradford. I'm a Pediatric
2 Oncologist. I've been at FDA for about five years and
3 what drove me to come to FDA is my interest in
4 development of new therapies for children with cancer
5 and I saw that working at FDA is now continuing to
6 work on that on a broad scale. Happy to be here
7 today. Thank you.

8 DR. DONOGHUE: Next I'll move to Dr. Denise
9 Casey.

10 DR. CASEY: Hi, good morning, everyone. My
11 name is Denise Casey. I, too, am a pediatric
12 hematologist oncologist by training. I, too, am a
13 mother of four. Prior to being at FDA, I was in
14 clinical practice in upstate New York at Golisano
15 Children's Hospital in pediatric hematology oncology
16 for about four years. After that, we moved down to
17 the DC area where I joined FDA and I was at FDA for
18 about 7.5 years working with Martha and Diana on the
19 pediatric rare tumors, brain tumors and neuro-
20 oncologic tumors team as well as some about a year on
21 the sarcoma and melanoma team.

22 I love working at FDA. It was a truly

1 positive and educational experience for me, so I am so
2 pleased to be here today. Thank you. Thank you for
3 organizing the event and inviting me.

4 DR. DONOGHUE: Thanks, Denise, much
5 appreciated. I'll move on to Dr. Jamie Brewer.

6 DR. BREWER: Good morning, everyone. My name
7 is Jamie Brewer. I am a medical oncologist by
8 training and I've been at FDA for about four years
9 now. I'm currently working as a clinical team lead in
10 the Division of Oncology III where we, my team in
11 particular, focuses on development of drugs for the
12 treatment of GI cancers, gastrointestinal cancers,
13 colon, liver, et cetera, and then also melanomas. In
14 regards to what brought me to FDA, you know, I think
15 everyone on the panel you'll find is curious and
16 inquisitive and loves research. What I think really
17 brought me here is the ability to work so closely with
18 people of so many different specialties and everyone
19 is so interested in really working together and
20 collaborating and teaching and learning. So it's a
21 great environment to be in, it's a great place to
22 learn and to really have an impact. So thank you all.

1 DR. DONOGHUE: Thank you so much, Jamie. And
2 last but not least, I'd like to have Dr. Elizabeth
3 Spehalski introduce herself.

4 DR. SPEHALSKI: Hi, good morning. My name is
5 Liz Spehalski and unlike my colleagues, I am a
6 nonclinical reviewer at the FDA. I work in the
7 Division of Hematology Oncology Toxicology and we
8 support the nonclinical part of the clinical division.
9 So like Jamie, I work on cancers that are
10 gastrointestinal, melanoma, sarcomas, cutaneous
11 cancers. I've been at the FDA about five years now.
12 My background is a PhD Scientist and Cancer Biologist
13 and I was attracted to working at the FDA because the
14 FDA has a strong public health-minded mission and
15 working at the FDA allows me the chance to see how the
16 basic research that I've worked on for 15 plus years
17 now immediately can translate into patient care. So
18 thank you for having me today.

19 DR. DONOGHUE: Thank you so much, Liz. So
20 next we'll kick off just level set a little bit on the
21 approval of selumetinib for the treatment of pediatric
22 patients with plexiform neurofibroma. Dr. Bradford,

1 Diana, will provide a very brief presentation just to
2 describe what selumetinib is, what plexiform
3 neurofibroma is and after that we'll talk informally
4 about our experiences during the review. So Diana,
5 I'll turn things to you. And if we could have our
6 slides up, that'd be great.

7 DR. BRADFORD: Can you see the slides? Oh,
8 yes, I'm sorry. I see now. Okay. Wonderful. Thank
9 you. So my friend and former colleague and I, Denise
10 Casey, will be discussing our experience with the
11 program that led to the approval of the drug
12 selumetinib which is in a class called a MEK inhibitor
13 for children and adolescents with neurofibromatosis
14 type I and plexiform neurofibromas -- more on what
15 that is in just a minute. This application was
16 approved on April 10, 2020. If we could go to the
17 next slide, please?

18 So briefly, what is neurofibromatosis?
19 Neurofibromatosis is a genetic disorder that affects
20 about one in 3,000 people. The most common type is
21 NF1. It can affect many parts of the body but not all
22 people with NF1 will have all aspects of the disease.

1 I've listed some of these here. Affected areas can
2 include the skin, including spots or bumps, bone
3 issues like scoliosis, impacts on height, learning
4 issues, and high blood pressure among other things.

5 People with NF1 are also more likely to
6 develop tumors, both benign and cancerous tumors, and
7 what we're going to be talking about today is
8 plexiform neurofibromas. So somewhere between 30 and
9 50 percent of people with NF1 have a plexiform
10 neurofibroma, a benign tumor that can occur anywhere
11 in the body and depending upon where the tumors are,
12 they can cause symptoms like pain, difficulty with
13 range of motion and even have life-threatening
14 consequences if they're located near important
15 structures like the airway, they can be very difficult
16 to remove by surgery.

17 So this is an area very near and dear to my
18 heart. Before I came to FDA, I was working at the
19 National Cancer Institute and the research team there
20 treated many patients with rare diseases including
21 patients with NF1. My mentor at the NCI, Dr. Birgitta
22 Weideman has led many trials to find a treatment for

1 patients with plexiform neurofibromas and when I
2 joined, the team had already evaluated a small number
3 of pediatric patients with the drug selumetinib and
4 seen some exciting prospective benefit and they were
5 starting to enroll more patients on a trial to further
6 evaluate how the drug worked in these patients. One
7 of my projects there was to develop and start a trial
8 in young adult patients to see if these patients would
9 benefit.

10 It was very exciting to be a part of a team
11 evaluating this promising therapy because at this time
12 there were no effective therapies for these patients
13 and as a physician and researcher, I saw how patients
14 were affected in terms of mobility, pain and also the
15 need for regular monitoring as malignant tumors can
16 develop within plexiform neurofibromas or in other
17 parts of the body.

18 So in addition to trials of drugs for the
19 treatment of plexiform neurofibromas, the team at NCI
20 had been conducting a natural history study for
21 patients with neurofibromatosis. That is, they were
22 following patients over time including with MRI scans

1 to see how their disease changed over time and tried
2 to better understand the course of the disease. This
3 turned out to be important to showing how selumetinib
4 was changing the course of the disease and the benefit
5 that patients were experiencing, as my colleague
6 Denise will be explaining. If we can go to the next
7 slide?

8 I'll briefly show you one example, and this
9 is from a publication by my former colleague Andrea
10 Gross in the New England Journal of Medicine. This is
11 a young boy who was treated with this drug. You can
12 see on the left photo that he has a bump on the side
13 of his neck which is his plexiform neurofibroma. This
14 is his picture before he started taking selumetinib
15 and in the middle you see the photo after about a year
16 of receiving selumetinib and the tumor is visibly
17 smaller. The chart on the right shows how the size of
18 this tumor had been growing before the drug was
19 started. The red arrow shows when selumetinib was
20 started and how the tumor started shrinking on
21 treatment. We can go to the next slide.

22 And I'll show you one more example, also from

1 the same paper by Andrea Gross. Panel A is an MRI
2 showing a cross section of the patient's body. You
3 can see the bright white plexiform neurofibroma has a
4 very complex shape, is very large and extends from the
5 neck, chest, and upper arm. Again, panel B in the
6 middle shows the tumor growing until selumetinib was
7 started and then panel C, see how the research
8 measured pain, strength and range of motion which
9 improved on treatment. I know there are a lot of
10 details on this right side but just to give you a
11 sense of what the researchers were measuring.

12 At this point, I'll turn it over to my
13 colleague Denise Casey who is the reviewer for this
14 program to describe her experience. Thank you.

15 DR. DONOGHUE: Thanks so much, Dr. Bradford.
16 Denise, it's on to you now. I guess could you just
17 first describe just a very high level why we decided
18 to approve selumetinib? Like, what was the evidence
19 that was provided that, you know, led to us deciding
20 that we thought this drug was effective for patients?

21 DR. CASEY: Okay. Yeah. So absolutely.
22 The, you know, selumetinib is a MEK inhibitor. It is

1 a drug that was being developed in this indication and
2 a number of other indications at the same time and
3 this was going to be the first approval for the drug.
4 So, you know, we had to come up with or the sponsor
5 and the NCI came up with a number of ways to look at
6 the safety and the efficacy of this drug and the
7 intended use in patients with neurofibromatosis type I
8 and plexiform neurofibromas that were causing
9 symptoms.

10 You know, one of the challenges with this was
11 how to measure the effectiveness of selumetinib in
12 this tumor type because of the -- because this is a
13 benign tumor. Right? And since the tumor is benign,
14 we weren't always thinking about tumor shrinkage in
15 the same way you would think about shrinking a tumor
16 in a patient with cancer. And so it was really
17 important to look at how the decrease in tumor size
18 during the treatment correlated with the patient's
19 symptoms and their ability to do things that they
20 weren't able to do when they first entered the trial.

21 So, for example, you know, if a patient
22 enrolled in the trial with a large amount of pain, and

1 you just saw Diana's slides, some of these tumors are
2 very large. They grow along the nerves. As the
3 patient was treated during the trial, they would get
4 routine MRIs to look at how the tumor was shrinking.
5 If the tumor was shown to be shrinking on the MRI, the
6 investigators at NCI and the other centers running the
7 trial were assessing whether the patient's pain was
8 also decreasing or improving during treatment.

9 Another example, you know, patients some of
10 the patients had tumors that were pressing on their
11 lower spines in an area that serves us with bladder
12 control and so some of these patients had urinary
13 incontinence when they entered the trial. Over time
14 if the tumors shrunk on the MRI, were these patients
15 able to have better bladder control. So, you know,
16 looking at these MRI scans in parallel with these and
17 other similar clinical outcomes or patient experience
18 aspects was really key during our review because, you
19 know, we wanted to get a sense of whether selumetinib
20 was shrinking the tumor, but more so, you know,
21 whether this tumor shrinkage was actually affecting
22 how the patients were, you know, their daily lives and

1 their function and Diana showed some nice images,
2 particularly that last image with the patient with the
3 large neck tumor, you know, there were lots
4 information submitted in this application but you
5 know, interviews of the patients and their families
6 and even having better range of motion of the neck can
7 improve the patient's daily function in school, in
8 play. It's, you know, it was a great application to
9 review from that standpoint to, you know, read about
10 these patients as individuals and their experience
11 during treatment.

12 So it was certainly a drug for a debilitating
13 and chronic disease that, you know, had -- it was an
14 unmet need, there were no other systemic therapies, so
15 it was important that, you know, we review this
16 application and I think it was a successful review in
17 the end with all the parties.

18 DR. DONOGHUE: Thanks, Denise. And so just
19 to kind of provide everyone with a bit of a framework
20 or an understanding, so this data package was for just
21 a small number of patients, about 50 patients I think
22 and the percentage of patients whose tumors shrunk was

1 about 66 percent, 70 percent range. So the drug was
2 shown to be able to be successful with shrinking the
3 tumors for quite a few patients. And also equally
4 important I think to the review team was not just the
5 percentage of patients that responded with their tumor
6 shrinkage but also how long they responded, which is
7 particularly important I think for disease such as
8 plexiform neurofibroma that is associated with
9 potentially lifelong sequelae and so I think that was
10 also a very important part of the review process as
11 well.

12 Denise, I know there was a long road that
13 people traveled to reach the point of even receiving
14 an application or submitting an application to FDA and
15 I think it was a labor of love for many parties
16 including Dr. Bradford as she described. Other
17 researchers led by Dr. Weideman at the NCI, but also
18 many, many other stakeholders including patient groups
19 who dedicated their time to describe -- to be part of
20 that natural history protocol and go through
21 interviews to help people better understand what the
22 primary symptoms were that were causing them problems

1 with the disease or for their children.

2 So very unique, at least in terms of
3 oncology, as you eluded to in that this isn't a
4 disease that tends to cause people to die quickly,
5 thankfully, but it is a disease that can cause a lot
6 of problems, very, very severe problems for patients
7 and decreasing their quality of life. Can you speak a
8 little bit more about the involvement of multiple
9 stakeholders during the development program and how we
10 interacted with them a bit during this process?

11 DR. CASEY: Sure, sure, Martha. You're
12 right. I mean, this was a huge collaborative effort,
13 the success of this development program and when I
14 first came to FDA, I came to FDA in 2013 and I think
15 it was early 2014 when the NCI investigators who were
16 seeing this, studying this drug in very early phase in
17 the clinic came and presented to our office to the
18 oncology office and showed us some of the very early
19 safety and efficacy data they were seeing in patients
20 and just a handful of patients early phase data. But
21 they detected that there was an effect and there was a
22 potential benefit they were seeing in these patients

1 and they -- we actually had a small roundtable
2 discussion after that meeting to discuss next steps
3 and then I guess it was a few months later NCI
4 investigators came again to FDA with the commercial
5 sponsor of selumetinib to discuss a -- I would call it
6 an innovative trial design. How were they going to
7 show in a registration-enabling trial like a trial to
8 support the marketing application that this drug truly
9 was effective and beneficial to these children.

10 And so they met with us to discuss that trial
11 design and to discuss how they were going to measure
12 the effect and it was from that meeting on there were
13 several guidances, certainly some challenges as we
14 see with rare development tumors, pediatric
15 development tumors, but NCI investigators and the
16 commercial sponsor came to every meeting with FDA from
17 that meeting to the pre submission meeting when we
18 were discussing the results that would be included in
19 the marketing application and I can't tell you how
20 important and useful it was to have the three parties
21 at the table, the NCI investigators treating these
22 patients, talking to families, understanding the

1 effects of the treatment and some of the even side
2 effects of the treatment firsthand and then speaking
3 with us as regulators and with the commercial sponsor
4 who was going to be manufacturing this drug in a
5 formulation that was to be suitable for very young
6 children over the long term.

7 So I think the success of the program was all
8 about that collaboration. And then as you mentioned,
9 Martha, we -- NCI invited FDA reviewers and FDA
10 medical officers to attend some of their conferences
11 and workshops that they had on NF1-related tumors, how
12 to measure them, patient engagement with these groups
13 and so for us as reviewers, we had the ability to meet
14 patients and to meet advocates and families firsthand
15 and the scientists who were coming up with ways to
16 measure this tumor and ways to think about how to
17 measure benefit in these tumors. So, again, all
18 stakeholders led to the success of this application
19 and this approval.

20 DR. DONOGHUE: Thank you so much. I think
21 you encapsulated it really well. I was involved when
22 I was a primary reviewer very early on in this process

1 and I got to see firsthand a lot of sort of the
2 problem solving attitude that all parties brought to
3 bear when trying to figure out how could we possibly
4 figure out a way to show that this drug is effective
5 given the constraints in terms of patient numbers,
6 given the issues relating to how to even measure a
7 neurofibroma that spreads out in many different ways
8 very different than our typical cancer lesions, how do
9 we define benefit to patients by through patient
10 reported outcomes measures, et cetera.

11 So I do think that that as really crucial, as
12 you said, having people come to the table together,
13 being open to maybe different types of solutions, not
14 just going with the "tried and true" approach to drug
15 development which we often use for refractory cancers
16 just looking at tumor shrinkage alone in a very
17 typical way. So I think it was definitely a great
18 experience for us.

19 And there were also issues, just because this
20 is a bit of a different development program for us
21 because it's directed against a tumor that's benign.
22 And we say benign which means essentially that it

1 doesn't tend to directly cause death to patients, it
2 doesn't tend to metastasize or spread beyond the area
3 of the tumor. So it's benign in that sense, but not
4 benign at all to patients because of how large these
5 tumors can grow, but because of the fact that this
6 wasn't cancer per se, we also had to look a little bit
7 differently at safety because we knew that people,
8 particularly vulnerable patients, pediatric, young
9 patients might be taking this drug for many years.

10 So I know we don't have a ton of time left,
11 but just quickly, would you mind describing a little
12 bit about how we thought about safety in this
13 population and how we assessed that we thought it was
14 safe to be approved?

15 DR. CASEY: Right. Sure. Safety was a big
16 piece of the application. Like Martha said, we only
17 had the 50 patients in the registration trial, so it
18 was important to sort of collect safety data from
19 other sources for us to review, and so NCI again was
20 able to put forth some early phase data from their
21 phase I studies and the sponsor was able to obtain
22 safety information from ongoing trials that were in

1 different pediatric indication.

2 So of course there had been MEK inhibitors
3 approved for cancer indications in adults, but we
4 really had to be careful here because this was a
5 pediatric indication and as Martha and Diana have
6 already mentioned, really the anticipation of these
7 children using the drug chronically, it's a benign
8 tumor. We did know from the early phase data that
9 with -- for long drug interruptions or holidays, the
10 tumor in some patients did grow again, so it was best
11 that they stay on the drug long term to maintain the
12 effect.

13 And so it was really we had to be careful and
14 think about the pediatric population, young children,
15 we had to think about the short-term side effects and
16 then of course the long-term side effects of using a
17 MEK inhibitor and then balance that out with the
18 benefits the patients were having with the drug. And
19 so we always think about growth, development, and
20 there are some -- the company's continuing to do some
21 studies in looking at the long-term use and long term
22 side effects of the drug in patients with the disease.

1 DR. DONOGHUE: Thank you so much, Denise.
2 And Diana, I'll get back to you at the end of the
3 panel discussion because I did want to get some last
4 thoughts from you on this as well. But I think we
5 should move on at this point and we'll talk about the
6 development of KIMMTRAK for the treatment of ocular
7 melanoma. And so Dr. Brewer and Dr. Spehalski.

8 DR. BREWER: All right. Thank you. Yes, so
9 my colleague Elizabeth and I are going to be talking
10 about this approval for really about uveal melanoma
11 and the treatment of it with tebentafusp. The patient
12 population for this study was a population of patients
13 who had unresected or metastatic uveal melanoma that
14 hadn't previously been treated with a systemic
15 treatment or a treatment that goes throughout the
16 entire body.

17 This is an exciting approval for us which
18 we'll talk about in the next couple of slides and we
19 just recently approved this drug in January of this
20 year. So next slide, please.

21 Before we get into a little bit more detail
22 about tebentafusp itself, I wanted to give some

1 background on ocular uveal melanoma. And so uveal
2 melanoma is a type of cancer that affects the eye,
3 specifically it affects the middle part of the eye
4 which is called the uvea. The uvea is made of three
5 main parts which are circled on the left end of the
6 slide diagram.

7 Like melanoma that affects the skin, uveal
8 melanoma begins in cells that make pigment and our
9 coloring called melanin and the cells that make this
10 melanin are called melanocytes. And although uveal
11 melanoma is the most common type of cancer that
12 develops or affects the eye in adults, it's still very
13 rare and only makes up about three to five percent of
14 all melanomas.

15 It's expected that in this year, 2022, there
16 will be about 3300 new cases of uveal melanoma. Some
17 of the symptoms of uveal melanoma can include problems
18 with your vision, a growing dark spot in the colored
19 part of the eye which you can see on the screen in the
20 middle picture. There can also be changes to the
21 shape of the pupil or the center portion of the eye
22 which you can also see.

1 Other changes may include changes in the
2 position of the eye or the way that the eye moves.
3 Unfortunately, even with treatment, about 50 percent
4 of patients with uveal melanoma will develop
5 metastatic disease or disease that spreads from the
6 eye to other places in the body and the liver is most
7 commonly a source of spread when patients develop
8 metastatic uveal melanoma.

9 Prior to the approval of tebentafusp, patients
10 with uveal melanoma that was advanced or uveal
11 melanoma that had spread outside the eye, they would
12 receive the same therapies that were approved for
13 melanoma of the skin. However, these therapies tended
14 not to work as well in patients with uveal melanoma.
15 And so that's why this approval of tebentafusp has been
16 really exciting because it's the first drug that has
17 been approved specifically for uveal melanoma.

18 Tebentafusp was studied in patients, as I
19 stated before, with metastatic uveal melanoma who had
20 not received any prior treatment for their metastatic
21 disease. And patients on the trial were assigned to
22 receive treatment with either tebentafusp or treatment

1 with what's considered a standard of care therapy
2 which is what they would usually get if they were not
3 on a clinical trial. And what we saw with this trial
4 was that the patients that were treated with
5 tebentafusp had an improvement in their survival
6 compared to patients that received the standard of
7 care therapy.

8 There are some additional interesting
9 characteristics about tebentafusp, so I'm going to
10 actually have us advance to the next slide and I'll
11 turn it over to my colleague Elizabeth to discuss.

12 DR. SPEHALSKI: Thanks, Jamie. As Jamie
13 outlined, the approval of tebentafusp was exciting for
14 us because it provided an approved treatment
15 specifically for patients with uveal melanoma who
16 prior to this approval had no treatment made
17 specifically for them. But from the standpoint of a
18 biologist, tebentafusp is also a very interesting
19 product. So this is the first product that the FDA
20 has approved that involves a T-cell receptor.

21 I just want to talk a little bit about how
22 this drug works. So tebentafusp is what we call a

1 bispecific fusion protein. On one side of this
2 product, which is the left here, where I have the
3 melanoma cell labeled is an engineered T-cell
4 receptor. So a T-cell receptor is a protein that's
5 naturally found on the surface of your T-cells which
6 are the white blood cells of your body that are
7 primarily responsible for the adaptive immune
8 response.

9 And so in your body, these T-cell receptors
10 assigned to these cell surface proteins which are these
11 known as MHC molecules or HLA molecules which are
12 these blue balls here. And so in your body, all of
13 your cells, they have these MHC molecules and their
14 job is to present little pieces of proteins. And so
15 they can either be from your cells themselves or from
16 diseases, little peptides from either diseases or
17 cancer cells.

18 And so the T-cell receptor recognized these
19 complexes of these MHC molecules bound to a protein
20 and they'll say "Okay, this is your cell and we won't
21 attack it" or "This is a disease and we can attack that."
22 And so how this drug was designed is this particular

1 MHC molecule called HLA0201 presents GP100 and GP100
2 is a little protein that is specifically enriched in
3 melanomas. And so the drug, tebentafusp, will recognize
4 this MHC on a melanoma cell specifically. On the
5 other side of this is an antibody fragment that
6 basically identifies T-cells.

7 And so this drug was engineered to bring your
8 T-cells close to the melanoma cell and then they can
9 release factors that will kill the melanoma cell
10 itself. And so this is exciting because it's allowing
11 your immune system to attack the melanoma cell
12 specifically.

13 And so for us, besides providing a treatment
14 specifically for patients that have nothing before
15 this, it's kind of a new exciting mechanism that we
16 can see the possibility of expanding to other types of
17 cancer.

18 DR. DONOGHUE: Thanks so much, Liz. Much
19 appreciated and it's a very exciting drug, both in
20 terms of the patient population that it is able to
21 treat as well as the way it works which is also very
22 unique and exciting. I know that before I started at

1 FDA, I didn't really understand how different people
2 who are not physicians get involved in drug
3 development and what their role was in shepherding a
4 drug from the very beginning when it's -- before it's
5 even going into patients in clinical trials up to the
6 point where it gets approved. So I was wondering if
7 you could just describe at a very high level what your
8 role is as part of the FDA review team?

9 DR. SPEHALSKI: Sure. So as a non-clinical
10 reviewer or a pharmacologist, my job is to look at drugs
11 before they go into people and decide whether or not
12 the data that a company or a sponsor has provided for
13 us in cells and in animals, if it's enough to provide
14 a safety net to put in people.

15 So the FDA requires that when anyone comes in
16 with a new drug that they show us that it works the
17 way that they say it works. So for example, for
18 selumetinib that it does target MEK, for tebentafusp
19 that it targets these specific tumor cells that
20 present this GP100 protein and that it also can, in the
21 case of tebentafusp, activate your T-cells to attack the
22 tumor cells.

1 On top of that, my job is to look at the
2 toxicities of the drug before they go into people.
3 That allows us to anticipate what might happen in the
4 clinic. So the FDA requires that drugs are tested in
5 animals before they're tested in people. The primary
6 reason for this is safety. Two or more animal species
7 are typically tested because the drug may have effects
8 in different animals that both may apply to the clinic
9 and so my primary job is to look at drugs before they
10 go into people and see if we can anticipate problems
11 that may arise once it's in the clinic.

12 DR. DONOGHUE: Thanks, Liz. I've also found
13 you as members, you and other members of the
14 nonclinical review staff very, very helpful in helping
15 us to understand as you did here hopefully for all of
16 us how drugs work or how drugs might potentially work
17 when we're making that kind of risk/benefit assessment
18 throughout the drug development process to determine
19 does this study make sense, does this patient
20 population make sense to kind of expose this drug to
21 that you may not know all of the safety risks for and
22 also really helping to guide us with what is the

1 appropriate starting dose to give, how quickly should
2 we go up on that dose, what's safe for patients, how
3 do we even figure out how to monitor patients. What
4 should we be looking for in potential toxicities
5 because we don't want to subject patients to too many
6 tests too often but we also want to make sure that
7 we're evaluating their labwork appropriately to be
8 sure that we're not causing problems that we're not
9 aware of and also so that if we detect problems we can
10 detect them early enough to mitigate them so that they
11 don't become life-threatening or dangerous or impede
12 their quality of life to the extent that we can.

13 Could you speak a little bit as well to just
14 at a high level to the philosophy and sort of the -- I
15 look at nonclinical reviewers as also shepherds and
16 protectors in many ways of animals as well because
17 while the animal studies are important, we
18 recognize that they have to be treated humanely and we
19 don't want to have unnecessary studies either. Could
20 you just speak a tiny bit to that? Because that was
21 something I hadn't thought of before coming to FDA.

22 DR. SPEHALSKI: Sure. Absolutely. I

1 understand that animal testing can be sort of a
2 contentious topic but the FDA does require that drugs
3 are tested in animals before they're tested in people.
4 I touched a little bit on the primary reason for this
5 which is safety and toxicities but there's other
6 reasons. Efficacy, we want to see that a drug can
7 have some effect on killing tumors in a living animal
8 before we put it in people. We don't want to give
9 people a drug that we don't think will work,
10 especially given that some of them do -- especially
11 cancer drugs -- do have a lot of toxicity. We also want
12 to find out what the body does to the drug, so we talk
13 about things like absorption of drugs, how the drug is
14 metabolized, how long it will stay in your blood and
15 that will ultimately affect how drugs are dosed in
16 people and so we need to know all of that in living
17 systems.

18 However, the FDA does support the development
19 of the use of alternative to whole animal testing.
20 Tebentafusp is a great example of this actually because
21 of the very specific nature of what it recognizes, the
22 HLA on people and the T-cell receptors and then also

1 CD3 on a T-cell, it doesn't actually bind in animals.
2 So tebentafusp was not used in any animal experiments
3 before it was put in people and so this was a great
4 way for us to see what other tests can we do to make
5 sure that this drug will be safe before we put it in
6 people. A lot of these tests include looking at
7 cells, looking at human tissues and putting the drug
8 (Inaudible) tissues, seeing where it would bind and
9 really just starting at a really low dose in the
10 clinic.

11 So the FDA does continue to be an advocate
12 for methodologies that reduce or replace animal
13 testing as well even though we do require it at this
14 time.

15 DR. DONOGHUE: Thanks so much, Liz. I think
16 we have about four minutes left and there was one
17 question that came in in the chat. Just I think it
18 was from Rhett who asked, "Is the interaction that we
19 were describing between the FDA and NCI stakeholders
20 unique to oncology?" And I think the short answer to
21 that is no. I don't think it's unique to oncology.
22 There is quite a bit of infrastructure in place at the

1 FDA for every disease type to kind of foster these
2 collaborative interactions. And I am pretty sure that
3 as the day progresses there will be additional
4 information from other disease experts on that, but I
5 do think it is -- I don't think from my own personal
6 viewpoint, I don't think we're there and I don't think
7 we have the perfect formula for this interaction yet
8 and I think that's something that we all need to work
9 together as a community on to figure out how best to
10 foster this collaborative approach that I think
11 selumetinib exemplified. So that's my take on that
12 very excellent question, so thank you for that.

13 But I wanted to just take the last few
14 minutes just to ask the panelists to reflect a bit upon
15 their experiences with their approval of the drugs
16 that they worked on and just whether their -- what
17 they took away from that experience as a reviewer and
18 how you think you could apply any lessons learned to
19 the future. So you can take all or part of that
20 question as you see fit. Jamie, I'll start with you.

21 DR. BREWER: Thank you. I think the one
22 thing that I thought was interesting with the

1 tebentafusp program was the fact that they were able to
2 do this randomized trial and they enrolled a pretty
3 significant number of patients to the study. What we
4 tend to see in other melanoma studies that focus on
5 melanoma of the skin is that they don't have slots and
6 openings for patients with uveal melanoma or other
7 rare types of melanoma.

8 And so it definitely answers the question
9 that if you build it, they will come. The patients
10 are out there, we can enroll, we've done it with
11 tebentafusp and maybe we should be opening more slots
12 on other studies and other development programs to
13 enroll more of these rare melanomas into clinical
14 trials so that we can improve our knowledge base.

15 DR. DONOGHUE: Thank you. I think that's an
16 excellent takeaway. Much appreciated. Maybe we'll
17 move on next to Diana, Dr. Bradford. And I know you
18 did not, you were not a primary reviewer of this
19 application, but I think we all followed this closely
20 and certainly there were some experiences that I think
21 we shared.

22 DR. BRADFORD: Yes. I wasn't a direct member

1 of the review team, but very exciting to see it
2 unfolding and sort of from both sides. I think what
3 Denise spoke about earlier, the importance of
4 collaboration with investigators with sponsors was the
5 real takeaway for me and how critical that can be,
6 especially when we're dealing with rare diseases to
7 enhance really our understanding of the disease, how
8 the drug is working, what the clinical benefit is to
9 patients to really all work together. That's my
10 biggest takeaway and that I think about often.

11 DR. DONOGHUE: Thanks so much, Diana. Liz,
12 I'll have you go next and then last but not least
13 we'll have Denise.

14 DR. SPEHALSKI: Sure. As I touched on earlier,
15 I think the approval of tebentafusp was a really great
16 blueprint of how we can cut down on animal use and
17 other ways that we can look at human tissues and
18 pharmacology data to cut down on the use of animals.
19 Additionally, I think tebentafusp was an interesting
20 new technology that we can move forward with and it
21 can hopefully allow us to target maybe other rare
22 cancers in a way that's safe and effective.

1 DR. DONOGHUE: Thank you so much, Liz. And
2 lastly I'll go with Denise before we close.

3 DR. CASEY: Yeah, so really I would echo what
4 Diana said and I think the only thing I could add for
5 me, I think we're all pediatricians but just being
6 thoughtful about and learning about how to think about
7 pediatric data a little bit differently and to think
8 about patients practically and how they're practically
9 going to be taking a drug or families administering a
10 drug to children. I learned a lot from the other FDA
11 teams in addition to the other stakeholders but for
12 example our clinical pharmacology team, how can we
13 practically give this to young children and how can we
14 expect families to be giving this to their young
15 children every day for a long-term period around
16 eating or fasting conditions, things like that. So I
17 think I learned a lot from the other disciplines at
18 FDA and again, like I mentioned, just meeting with the
19 actual patients and families at those workshops when
20 we were covering the IND or the development of this
21 drug and it was just this parallel very inspiring
22 experience for me to be hearing what it was like for

1 them to be living and functioning as best they could
2 with their tumors and to think about okay, well, maybe
3 we're going to be part of a team that can make things
4 a little bit better.

5 DR. DONOGHUE: Thanks so much, Denise. I
6 think you summarized it very, very nicely. I just
7 want to thank the panelists for their time. Thank you
8 to the Office of Orphan Drug Products for having us
9 for this panel and thank you so much to everyone who
10 joined us for this session. We have a couple of
11 questions very late that we unfortunately don't have
12 time to address, but I will try to get those addressed
13 through the chat mechanism so we can address those.
14 So thank you very much and I'll turn things over to
15 the next panel.

16 DR. FERAGLICH: Thanks, Dr. Donoghue. Up
17 next we have our second panel from the Center for
18 Biologics Evaluation and Research, or CBER. They'll
19 be talking about how collaboration, in this case with
20 another center at FDA, helped inform and guide their
21 reviews of gene therapies for neurocognitive disorders
22 in children. Their panel will be moderated by Dr. Vic

1 Baum, a Medical Officer in the Division of Blood
2 Components and Devices in CBER. Dr. Baum.

3 DR. BAUM: Good morning and on behalf of the
4 Center for Biologics Evaluation and Research, welcome
5 to FDA's Rare Disease Day. Now, it may be that some
6 of you are more familiar with other FDA centers such
7 as the Center for Drugs, so what we'd like to do first
8 is just give you a very brief 30,000 foot view of our
9 Center which is known widely by its acronym CBER.

10 Now, CBER had a very atypical gestation. It
11 was originally part of the Public Health Service then
12 was transferred to NIH where it remained for quite a
13 few years before being transferred to FDA and then
14 finally becoming CBER.

15 The Center has three offices with product
16 review divisions, the Officer of Blood Research and
17 Review, or OBRR, the Office of Vaccines Research and
18 Review, or OVR, and the Office of Tissues and
19 Advanced Therapies, or OTAT. But as we're going to
20 stress all day today, it really requires critical
21 input and cooperation from multiple offices, not just
22 within CBER, but across the Agency.

1 I think it's tempting, it certainly is for
2 me, when hearing the term rare disease to think about
3 it sort of in the genetic and metabolic disease
4 context. But what we'd like to do is show you that
5 there are other types of rare diseases that CBER deals
6 with and these are just some very brief selected
7 examples, but there are many others.

8 The Office of Blood Research and Review
9 regulates, among other things, modified blood products
10 for use when conventional blood isn't available. Now,
11 it turns out the FDA has to approve and facilitate
12 importation of unavailable rare blood from overseas
13 and as I recall this four-year-old girl required
14 importation of extraordinarily rare blood type from
15 the Middle East. OBRR's Division of Emerging and
16 Transfusion Transmitted Diseases regulates test use
17 for screening blood donors to prevent relevant
18 transfusion transmitted infections such as when ZIKA
19 threatened several years ago. So in that context,
20 CBER works to help keep rare diseases rare.

21 The Office of Vaccines regulates a lot of
22 products including phage therapy which can be tailored

1 to a population as small as N-of-1. And finally OTAT
2 regulates quite a few proteins, gene and cell
3 therapies but one that you might not be aware of is
4 that OTAT regulates poisonous snake antivenoms.

5 But as I said, we don't act alone and today
6 we're going to present OTAT's experience in the review
7 of gene therapies for neurocognitive disorders in
8 children.

9 We're pleased to have as our panelists Dr.
10 Elizabeth Hart who is the Chief of General Medicines
11 Branch I in OTAT, Dr. Naomi Knoble who is a reviewer
12 in CDER's Division of Clinical Outcome Assessment and
13 Dr. Andrew Byrnes who is the Chief of the Gene
14 Transfer at Immunogenicity Branch at OTAT. And before
15 I turn it over to Andrew, let me just remind you that
16 we'd like this to be as interactive as possible, so
17 please enter your questions in the "ask a question"
18 feature. And with that, why don't you take it over,
19 Andrew.

20 DR. BYRNES: All right. Thank you, Dr. Baum,
21 and good morning, everybody. It's a pleasure to be
22 here. My role at the FDA is a little bit different

1 than some of the other panelists you'll be hearing
2 about today. So my job is to review how drugs are
3 manufactured to ensure that the drugs have appropriate
4 quality and purity. And I'm a specialist in reviewing
5 gene therapy vectors, so gene therapy is the subject
6 of this panel here. And I also run a gene therapy
7 research laboratory here at the FDA, so we study gene
8 therapy in animal models.

9 So I can tell you a little bit about how we
10 review gene therapy manufacturing and quality
11 including the outstanding scientists we have here who
12 are experts on gene therapy vectors and how we
13 collaborate as a team with other reviewers from all
14 across the FDA really and how we provide advice to
15 gene therapy developers and I'll note that many of the
16 developers that we have are small companies and
17 investigators at universities trying to treat rare
18 diseases.

19 So really this is such an exciting and
20 promising time for developing gene therapies to treat
21 diseases that affect the brains and my colleagues and
22 I are all very highly motivated to help ensure that

1 these gene therapies are safe and effective and to
2 ensure that patients can count on the quality of these
3 drugs that they receive. These gene therapies can be
4 lifechanging and can potentially treat genetic
5 diseases or other types of diseases that have no other
6 treatment available.

7 So one of the most versatile classes of gene
8 therapies are known as AAV vectors and I'll mostly be
9 using those as an example today. There's two FDA
10 approved AAV gene therapy vectors. One is for a rare
11 form of blindness called Leber's Congenital Amaurosis,
12 and that was approved in 2017. And the other is for
13 fatal form of motor neuron degeneration called spinal
14 muscular atrophy, and that was approved in 2019 and I
15 was the chair of the review panel for that particular
16 drug.

17 So there's many more AAV vectors in ongoing
18 clinical trials for treating neurological diseases and
19 other diseases and this includes many rare diseases
20 that may only have a few hundred patients in the
21 entire world. And one of the things that make AAV
22 vectors so special are that they're very good at

1 delivering genes to neurons and other cells in the
2 brain and you can even get them to deliver their genes
3 to the brain if you inject the AAV vector
4 intravenously, it's a very special property of this
5 class of gene therapy vectors.

6 However, AAV vectors also sometimes have very
7 serious side effects and that's why we're so motivated
8 to make sure that gene therapies are well manufactured
9 and rigorously tested before they go into patients.

10 So let me give you a little bit of an
11 overview about how we review the quality of these gene
12 therapy products. These gene therapies are still
13 quite new. Manufacturing processes are not
14 standardized for the most part and not straightforward
15 and the vectors are quite challenging and very
16 expensive to make often.

17 They're some of the most complex drugs ever
18 manufactured and one of the reasons they're so
19 expensive is that a manufacturing run may produce
20 enough of the vector that's only enough to treat a
21 handful of patients, so you need a large number of
22 batches, especially if it's a common disease.

1 So our staff here, our reviewers like myself
2 give extra attention and hand holding to less
3 experienced manufacturers and particularly academic
4 institutions or nonprofits who may need more advice
5 about manufacturing and we also have special programs
6 so you may have heard of the breakthrough designation
7 and RMAT designation and those programs allow us to
8 provide extra advice and interaction for drugs that
9 show evidence of being promising.

10 I want to speak a little bit about good
11 manufacturing practices, so as quality reviewers, this
12 is one of the things that we look at. This is a set
13 of rules for how to manufacture drugs consistently,
14 how to document everything, how to make sure that the
15 quality is consistent and it's important to note that
16 there's some flexibility in good manufacturing
17 procedures, so phase one clinical trials, the drugs do
18 not need to be manufactured following GMPs. However,
19 we still think it's very important to manufacture
20 these drugs with a high level of quality. For many
21 gene therapies, patient only gets one chance at gene
22 therapy can't be readministered because of the immune

1 system, so it's important that the products are
2 relatively pure and they have full activity and that
3 they have the correct dose and taking shortcuts in
4 manufacturing can make it quicker and reduce costs but
5 there's also considerable risk in things we've seen
6 and these are rare but they do occur.

7 Cross-contamination can happen during
8 manufacturing that can be quite harmful if it's not
9 detected. Gene therapy products may not work at all
10 if they're not rigorously checked before
11 administration to patients and then sometimes the
12 quality or the stability of the gene therapy vectors
13 is poor and as a result of that, sometimes the
14 clinical trial results can be inconclusive or
15 uninterpretable.

16 So when there is adverse events in clinical
17 trials as there sometimes are, we reviewers all come
18 together as a team, the quality reviewer, the
19 nonclinical reviewer, the clinical reviewer, and try
20 to figure out what happened and how to prevent it from
21 happening again. And sometimes the problem is the
22 quality of the vector, so we look closely at the

1 quality to see whether it needs to be improved.

2 So a little bit about when a new clinical
3 trial application arrives, this is called an IND and
4 we have 30 days to review it. This is a very team
5 approach. We have, as I mentioned, quality reviewers,
6 nonclinical reviewers, clinical reviewers and
7 sometimes others as well.

8 So an example of other types of reviews that
9 we may need, expertise that we may need, we bring in
10 from other parts of the FDA. So many gene therapy
11 trials in the brain or the eye or the ear or the
12 spinal cord, they may use novel unapproved delivery
13 devices to administer the gene therapy products, so we
14 collaborate with reviewers in FDA's Center for Devices
15 and Radiological Health to make sure that these
16 delivery devices are safe for patients and also that
17 the delivery devices themselves don't inactivate or
18 harm the quality of the gene therapy vector.

19 And another example, so we collaborate with
20 reviewers in FDA's Center for Drugs if an
21 investigational drug is used in the clinical trial
22 along with the gene therapy vector. So, for example,

1 some clinical trials use immunosuppressive drugs to
2 try to decrease the immune mediated side effects of
3 gene therapy vectors. And, of course, we work closely
4 with other members of our FDA review team within our
5 office to review the quality of the gene therapy
6 vectors that are used in the clinical trial as well as
7 the quality of the vectors that are used before the
8 clinical trial and the nonclinical animal studies.

9 So the expertise we have at the FDA, I've
10 been working here for over 20 years and I've been in
11 the gene therapy field for 30 years. Many of us are
12 active laboratory scientists and we perform research
13 on cell and gene therapy and on animal models. So we
14 have a very high level of expertise overall and
15 familiarity with the challenges in this field and we
16 ground our approach to regulating these novel drugs in
17 science.

18 So in addition to our internal review, we
19 also have external activities. As I mentioned, we
20 regularly meet with sponsors, drug developers to
21 provide them with advice about their products and
22 about their manufacturing facilities. This includes

1 meeting with them at the very earliest stages in
2 development when they're still thinking about starting
3 a clinical trial. And then usually at multiple times
4 as drug development proceeds or when they encounter
5 challenges.

6 For example, one challenge in the gene
7 therapy field right now is shortage of manufacturing space
8 at manufacturing facilities because of the large
9 increase in gene therapy activities and also all of
10 the COVID vaccines being manufactured, many of those
11 use the same facilities. So I'll stop there and
12 you'll hear a little bit more about CBER's outreach
13 activities in Celia Witten's talk later on this
14 afternoon and I'd like to turn it over now to
15 Elizabeth Hart who is a medical officer here in OTAT.
16 Thank you.

17 DR. HART: Thank you, Andrew. So as you all
18 just heard from Andrew, there are a lot of
19 complexities with cell and gene therapy products and
20 although there are a lot of challenges from a clinical
21 perspective, we believe that many of these products
22 offer great promise, especially for rare disease.

1 Overall as an Agency, we are committed to
2 advancing the public health by helping to speed
3 innovations that make medical products more effective
4 and safer. There is nowhere that this is more true
5 than when we're dealing with rare diseases, especially
6 for serious conditions that have no available
7 therapies.

8 On a personal note, it was this desire to
9 make a difference, especially in the lives of children
10 with serious rare diseases, that inspired me to become
11 a physician and then to join the FDA and it has been
12 incredibly rewarding to be part of the development of
13 novel therapies for rare diseases.

14 Developing cell and gene therapies for rare
15 diseases is definitely not a "one size fits all"
16 approach. We know that the clinical development
17 programs need to be individualized. We know that the
18 development process can be challenging and it requires
19 a lot of advanced planning.

20 As this audience knows, we are committed and
21 our ultimate goal is the approval and availability of
22 safe and effective therapies. Throughout the Agency,

1 we use the same definitions so you heard about this in
2 the prior panel, but by effective, I mean products
3 that have appropriately demonstrated an improvement in
4 survival or another clinically meaningful benefit in
5 the way patients feel or function.

6 Each approved product needs to have a
7 favorable benefit-risk profile for the specific
8 patient population that is being treated. We
9 recognize that each medical condition is different and
10 that there are differences in what are acceptable
11 risks and side effect profiles for each condition. So
12 instead of continuing to speak in a lot of
13 generalizations, I want to focus on some of our
14 experience with the development of gene therapy for
15 several inborn errors of metabolism.

16 The inborn errors of metabolism that I'm going
17 to focus on and that Naomi is going to subsequently
18 focus on have to do with those that primarily affect
19 young children. For these conditions, children often
20 are asymptomatic when they're born and then in early
21 childhood, their developmental trajectory changes. We
22 know that ordinarily children develop new milestones.

1 These children develop those new milestones more
2 slowly and then they stop developing them. And then
3 they lose those milestones that they previously had
4 and often these conditions are also associated with
5 premature death.

6 Each of these inborn errors of metabolism,
7 while they share several commonalities, they're each
8 different and even within a single disease, patients'
9 courses are different. This obviously poses unique
10 challenges and requires careful consideration as we
11 determine the best ways to study and evaluate
12 therapies for these conditions. And most importantly,
13 this requires a lot of collaboration.

14 So as you heard from Andrew, we work
15 collaboratively with sponsors who are developing these
16 therapies beginning really in very early in product
17 development. So while they are still refining their
18 product and before they have conducted extensive
19 preclinical testing all the way through to the post-
20 marketing period and we really work a lot to try and
21 ensure that there is a smooth and effective
22 development program in which patients are really being

1 thought about and we are ensuring that we're getting
2 the maximal information while still minimizing the
3 burdens on patients, their families, and most
4 importantly, minimizing their risks.

5 The other area where there is incredibly
6 important collaboration is that with the patient
7 community. So the FDA has hosted listening sessions
8 and patient-focused drug development. These
9 interactions are really, really, really important for
10 us to understand what is truly clinically meaningful
11 to patients. What are the risks that they are willing
12 to accept in the context of the disease, really trying
13 to understand these tradeoffs because as we've talked
14 about with rare diseases, there is often only one
15 chance and we really want to ensure that each product
16 that has a potential to help a patient is developed in
17 the best way possible and that patient safety is
18 protected. So we really appreciate the time that
19 patients spend talking to us and sharing their
20 experiences because we really are able to incorporate
21 that into the clinical development program.

22 Then you have heard a lot as far as

1 collaboration amongst the CBER team and it really is
2 essential to understand the risks from both the CMC as
3 well as the pharm/tox perspective.

4 Additionally, when a disease only affects
5 children, we need to ensure that we have information
6 on prospect of direct benefit from appropriate
7 nonclinical studies before initiating research in
8 children and so we work very closely with the pharm/
9 tox team to understand these issues and to help them
10 to advise sponsors on how these studies should be
11 conducted.

12 Then when it comes time for developing the
13 clinical development program, it's very helpful if
14 there is appropriate natural history data given the
15 rarity of some of these diseases so that we can
16 understand the trajectory so that we can
17 appropriately design a study that is maximally
18 informative.

19 And so we collaborate with people outside of
20 just CBER and one of the areas that we tend to
21 collaborate a lot on is in clinical outcomes
22 assessment and that's especially true for these

1 neurodevelopmental diseases. And so with that, I'd
2 like to turn it over to Naomi from the Clinical
3 Outcome Assessment Team in CDER to discuss this
4 further.

5 DR. KNOBLE: Thanks so much, Elizabeth, and
6 thanks to all of you for being here today as well.
7 It's a privilege to be part of this Rare Disease Day
8 and to celebrate and bring attention to patients
9 living with a rare disease and their families and
10 caregivers.

11 So I wanted to just in my little chat here
12 give you a little bit of insight into what it is that
13 I do in my little corner of FDA here and then
14 highlight some of the really critical work that I
15 think FDA is doing to advance rare disease
16 measurement. But to start with, my name is Naomi
17 Knoble and I work as a reviewer in the Division of
18 Clinical Outcome Assessments and I work within CDER,
19 the Center for Drug Evaluation and Research. But I work
20 closely really with CDER the most but with CBER as
21 well and certainly Elizabeth and I have worked
22 together on a number of reviews. Every once in a

1 while I work with our Device Center, CDRH, and like many
2 of us at FDA, I have two parallel tracks in my career:
3 I'm clinically focused and then also research focused.
4 And so clinically I'm a pediatric neuropsychologist
5 and in layterms it means I used to give IQ tests to
6 kids. The kids that bounce off the walls a little are
7 really my favorites and near and dear to my heart.
8 But I worked in autism and then other chronic
9 illnesses like cancer and kids with kidney and other
10 renal diseases and I really enjoy the work.

11 And interestingly, many of the tests that I
12 used in my clinical career I often see proposed for
13 neurodevelopmental disorders in the rare disease space
14 as well. And then I also have this part of my career
15 where I specialize in measurements, the measurement
16 science for clinical trials. And so within FDA, I
17 exclusively work on pediatric rare disease
18 applications, within CDER and CBER.

19 And so as both of my colleagues Andrew and
20 Elizabeth highlighted the work that we do here is
21 highly collaborative, I'd say intensively
22 collaborative, and we really can't do I think any

1 review without one another. So just to give you a
2 little more insight into what this world of clinical
3 outcome assessments is, some but not all rare diseases
4 have clear indicators of biological processes that we
5 can call biomarkers. Some diseases have these, but
6 not all. And so when we don't have biomarkers,
7 sometimes we can use a clinical outcome assessment, we
8 call it a COA, and it measures how individual patients
9 feel, function or survive and we can use these for
10 clinical trials to evaluate how patients are
11 responding to new treatments.

12 And so at the heart of clinical outcome
13 assessment measurements are patients. And so like
14 patients and caregivers might not be clinical experts
15 or necessary experts in clinical trial design, but
16 patients and caregivers are experts at what it's like
17 to live with a rare disease and to bring some nuanced
18 insight into what that looks like that no one else
19 has.

20 And so it's understanding how people who are
21 living with a rare disease experience symptoms or
22 impacts or what treatment priorities are and that

1 needs to be at the heart of clinical trial measurement
2 and also clinical trial design.

3 So it's part of my job as a reviewer to see
4 how sponsors have included patient insights both into
5 the measurements of their trial but then also to the
6 trial design, too. Sometimes trials are designed in a
7 way that's maybe not feasible for patients to complete
8 necessary and there are just some modifications that
9 need to be made to make it a little more patient-
10 friendly.

11 And so at FDA we're often asked sort of how
12 we use patient perspectives in our work and for my
13 review in clinical outcome assessments, patient input
14 is essential. The -- it was actually the patient-
15 focused drug development initiative that FDA began I
16 think circa 2011, 2012 and that's part of what
17 inspired me to bring my career to FDA knowing that we
18 really do make patients the center of the work that we
19 do. Patients are the primary stakeholder in any
20 medical product development.

21 And so when I start a review, like ideally,
22 the sponsor submitted a summary of evidence from

1 patients or caregivers, maybe patient advocacy groups
2 as well, to explain symptoms and impacts that patients
3 are experiencing and then also treatment outcomes that
4 are important to patients.

5 I think especially in the rare disease space,
6 and certainly the pediatric rare disease space, having
7 insights directly from patients and caregivers are
8 essential because there can be a lot of heterogeneity,
9 a lot of diversity and difference from one patient to
10 another even though they're all under the same
11 umbrella of the same disease label.

12 And so sometimes I get this information from
13 sponsors and sometimes I don't and so when I don't, I
14 turn to a number of resources, all of which Elizabeth
15 touched on in her talk. So first I'll check to see if
16 there is a "Voice of the Patient" report and this is an
17 initiative that started at FDA in about 2012 and it
18 continues importantly through patient advocacy groups
19 largely and those "Voice of the Patient" reports
20 typically summarize what patients and caregivers are
21 saying about the impacts and experience of a disease
22 and what treatment priorities might be.

1 Sometimes we have that and sometimes we
2 don't, especially in the rare disease space. So I'll
3 take a look to see if we've done a patient listening
4 session with the rare disease community and that will
5 give me at least some insights from patient and caregiver
6 perspective about a condition. I'll also look for
7 published qualitative interview-based studies or
8 survey studies with patients or patient advocacy
9 groups because that can also be just really helpful,
10 again, to systematically and collectively summarize
11 patient experiences.

12 And then when sometimes last but not least
13 I'll go to patient advocacy websites and then also
14 social media just to see if I can get a little bit of
15 insight or understand sort of even what it looks like
16 to have this condition. So patient perspectives are
17 essential for trial measurement and then also other
18 aspects of clinical trials. And so one example of
19 measurement, especially in the pediatric rare disease
20 space, clinical experts or publications on the disease
21 will indicate that motor functioning is clinically
22 important but when you ask patients and caregivers,

1 especially caregivers of patients who can't report for
2 themselves, folks might say, well, you know, it's
3 actually that my muscles get so fatigued that I can't
4 walk across the room or whatever the activity might
5 be.

6 And so it's that important aspect, that
7 nuance of motor functioning of muscle fatigue that's
8 likely most critical to focus on from the patient
9 perspective and might also give us the best chance of
10 detecting treatment effect if one exists.

11 Certainly in the neurodevelopmental/
12 neurocognitive space -- so many of the IQ tests that I
13 used to use in my clinical career are proposed for use
14 in clinical trials. Kids don't always like to do
15 them, but they're not the end of the world. Sometimes
16 it's just it looks like playing with blocks or toys,
17 but clinical experts might say well, you know, change
18 in cognitive functioning is the most important thing,
19 but when you ask parents and caregivers of kids with
20 rare diseases, especially that impact other
21 developmental functioning, parents and caregivers
22 might say well it's language or communication. If my

1 kid could just have a couple of more words in their
2 vocabulary, I might have a better chance of knowing
3 what they want and they might be less frustrated with
4 trying to get what they need.

5 And so instead of looking at necessarily
6 cognitive processes or reasoning, we want to look
7 instead at language and communication and so I think
8 these are the insights that are so critical, especially
9 in the rare disease space and the pediatric rare
10 disease space and it can make all the difference both
11 for the success of the trial but then also making sure
12 that outcomes are meaningful for families.

13 One last point I want to touch on for
14 bringing patient perspectives to bear on clinical
15 trials just from my clinical knowledge of kids and
16 also my knowledge as a parent, I can appreciate, I
17 think many of us can, that going to the doctor's
18 office and doing a clinic visit can be a little
19 stressful and so sometimes I'll look at clinical trial
20 schedule of assessments and I'll ask myself if I think
21 it's patient-friendly and I'll see if the sponsors
22 indicated whether or not patients and patient

1 advocates have been consulted. Every once in a while
2 that happens but I wouldn't say it's the norm. And
3 sometimes tests are required of a patient in the
4 afternoon on a clinic visit where I know that kid
5 might have problems because of their disease, they
6 might have problems with behavioral functioning and
7 they might be more inclined to refuse after lunch if
8 they're tired and they're already stressed out from a
9 morning of blood draws and other things.

10 And so sometimes flipflopping the timing of
11 assessments can really increase the patient's
12 experience of being part of the clinic visit but then
13 also improve data quality.

14 And so as both of my colleagues have
15 mentioned already, both Elizabeth and Andrew touched
16 on, is collaboration is critical to our work here at
17 FDA and if you can't collaborate, this definitely
18 isn't the place to work, but every single review that
19 I do requires that I work very closely, especially
20 with my clinical colleagues and my statistical
21 reviewing colleagues and so I'll meet with my clinical
22 colleagues often multiple times in the course of the

1 review to understand the disease process, the
2 mechanism of action of the novel treatment to give me
3 additional insights into looking at what the sponsor's
4 rationale might be for how they've designed their
5 measurement approach.

6 Also, depending on the disease that I'm
7 reviewing, if I'm working with CBER on a review, I'll
8 often reach out to other clinical colleagues over in
9 CDER to bring their insights to bear on whatever it is
10 that we're taking a look at. And then, of course, my
11 statistical colleagues, while I have a background in
12 psychometrics, which is a niche area of statistics for
13 designing clinical outcome assessments, I have
14 colleagues who uniquely focus on the nuances of those
15 types of statistics as well and I leverage their
16 insights, too.

17 So the last thing I just want to highlight
18 here are some I think really important FDA funded
19 external collaborations for advancing rare disease
20 measurements. The first that I want to mention is C-
21 Path's Rare Disease Clinical Outcome Assessment
22 Consortium and this only just formally launched this

1 January but it's a project that's been underway for a
2 few years now and the mission is to enable
3 precompetitive collaboration to advance measurement
4 science for rare disease clinical trials.

5 Often even just trying to find a starting
6 point for measurement can be really burdensome for
7 sponsors, especially some of maybe smaller companies
8 as well. And so part of the larger consortium
9 initiative is to be able to give sponsors a leg up to
10 identify some potentially suitable clinical outcome
11 assessments for use but then also in sort of a broader
12 vision, to be able to advance measurement science so
13 we can do the best job for patients and make the most
14 of their data. It's my true privilege to serve as
15 FDA's liaison through CDER to this particular
16 consortium.

17 There's also a Rare Disease Cures Accelerator
18 and Data Analytics Platform, also through the C-Path
19 organization and the function of that initiative is to
20 accelerate our understanding of rare diseases and
21 advance biomarker and also COA measurement research
22 and facilitate innovative trial designs and

1 mathematical modeling and the development of that.
2 And so the Rare Disease Cures Accelerator and Data
3 Analytics Platform is an exciting new opportunity and
4 there are some current projects underway in some rare
5 diseases that I'm really excited to see what's
6 happening next there.

7 And then finally, through CDER we have a
8 pilot grant program called a Standard Core COAs and
9 Related Endpoints and the purpose of this is to make
10 publicly-available COAs for use in clinical trials.
11 Sometimes the clinical outcome assessment might be
12 under copyright and it's not publicly available and so
13 this sort of open access copyright approach would be
14 critical I think to help advance clinical trial design
15 and it includes rare disease measurement as well. So
16 with that, Vic, I'll turn it over to you and maybe
17 we'll take some questions.

18 DR. BAUM: Thank you. Actually, we have
19 several questions. Maybe I can send this one to
20 Andrew. Can you -- actually, I'll start off with
21 everybody. Can you tell us about how CBER and others
22 collaborate to bring forward an N-of-1 or N of very

1 few patient treatments? What other parts of FDA are
2 involved in N-of-1 therapy approval? I might just
3 add, I believe that the FDA issued a guidance just
4 over the past few months about N-of-1 trials, so that
5 should be available. Anybody want to talk about N-of-
6 1 trials? Or not?

7 DR. KNOBLE: Well, I can't speak
8 specifically to N-of-1 trials, but I am aware that
9 there is a precompetitive, I think largely academic
10 consortium, that's recently launched regarding N-of-1
11 research and I think it's a really interesting
12 methodology for us to keep watching this space and see
13 what methodological advancements can happen that we
14 could bring to bear on clinical trials.

15 DR. BAUM: Okay. We have another one about
16 would newborn screening be beneficial to us?
17 Elizabeth.

18 DR. HART: Sure. So absolutely newborn
19 screening has a lot of potential as far as earlier
20 identification of patients and accessing standard-of-
21 care therapy. So there is absolutely a role for
22 newborn screening. From a clinical trial perspective,

1 one of the issues that we face is when is it
2 appropriate to begin treating an asymptomatic patient
3 with gene therapy? Typically, and as you'll see in
4 our guidance, we recommend that early therapies begin
5 in symptomatic patients because again we're looking
6 for a favorable benefit risk and as you've heard
7 discussed by Andrew, there are a lot of risks
8 associated with gene therapy. A lot of promise, but
9 there's also a lot of risk. And so typically we think
10 that that initial favorable benefit risk in general
11 applies to patients who are symptomatic and then once
12 we start to see early promise, it's possible that a
13 therapy could be expanded to go into an asymptomatic
14 population.

15 DR. BAUM: All right. There's a question
16 here about what's the typical time to expect a
17 response from FDA if we're asked about a pre-IND
18 meeting and does the investigator participate in pre-
19 IND meetings? Pre-IND meetings, remember, are
20 meetings that are held relatively early in the
21 clinical development process before filing -- in order
22 to develop a fully formed IND. Anybody?

1 DR. BYRNES: I can take that. So pre-IND
2 meetings, our goal is to respond within 21 days to the
3 request for a pre-IND meeting and to schedule it
4 within 60 days of the request. And due to the COVID
5 pandemic and shortages of staff, unfortunately we're
6 not always able to meet those goals within 60 days,
7 but we try very hard about that. The investigators do
8 participate in those meetings and sometimes we have
9 patients or patient advocates participating in those
10 meetings as well and that gives us a very important
11 perspective. Those representatives are invited by the
12 sponsor.

13 DR. BAUM: All right. Here's another one
14 which actually has to do with a specific metabolic
15 disease, but I'll generalize it. Can you please
16 provide more information on gene therapy
17 opportunities, specifically how can patients
18 participate? You know, similarly, the FDA does not
19 have a role in enrolling patients in studies, but
20 certainly clinical -- if you look at
21 clinicaltrials.gov, it's very easily searchable and
22 you can see what's going on nationally if not

1 internationally in the field.

2 How can the FDA make patient input sessions
3 more widely known and available to rare disease
4 patients themselves working to broaden the pool of
5 essential patient perspectives into consideration?

6 DR. KNOBLE: Yeah, Vic, I can take a stab at
7 that one. I think it's -- disseminating these things
8 is never direct necessarily or easy but we have here
9 at FDA the Patient Affairs staff who are under the Office
10 of the Commissioner and their whole mission is to
11 engage with patient communities and lead patient
12 engagement activities through public-private
13 collaborations and partnerships and also expanding
14 public awareness and so I think it's been my
15 observation that our Patient Affairs team works very
16 closely with other external organizations like NORD,
17 the National Organization of Rare Disease, and who are
18 just an amazing resource I think for things like gene
19 therapy trials and other initiatives or ways to help
20 patients who are in rare disease communities connect
21 with one another and other resources, too. So staying
22 connected and staying aware of what opportunities are

1 available I think in some ways can be its own full
2 time job, but thankfully we have Patient Affairs teams
3 to lead that for us.

4 DR. BAUM: I have a question for Elizabeth
5 which is that, you know, some trials are established
6 as randomized controlled trials where some patients
7 get the drug, some get a placebo or another drug or no
8 treatment depending. Well, for serious pediatric
9 diseases, why can't everybody or all the children in
10 the trial receive the treatment? Why are some
11 companies required to do a randomized controlled
12 trial?

13 DR. HART: Thank you. This is a very good
14 question and basically in the end it comes down to the
15 fact that our goal is to help to get answers and to
16 find out if a therapy works and to move that towards
17 approval if that's possible and so often a randomized
18 controlled trial is the best way to adjust for other
19 factors that could basically impact our ability to
20 interpret results and so it can often be the most
21 expeditious pathway to getting answers.

22 One of the challenges with the external

1 control natural history is if the disease is very
2 heterogenous or not able to distinguish what might be
3 a treatment effect versus natural variation and so
4 especially in small populations that can be very
5 challenging and so it's often needed to demonstrate a
6 much larger treatment effect to overcome some of those
7 challenges and so these are things that are really
8 figured out on a individual product and condition
9 basis, but know that when we recommend a randomized
10 controlled trial, it is because we think that that is
11 the most expeditious way to get answers. And so I
12 think that there is definitely a role and it does
13 benefit the patient community.

14 DR. BAUM: All right. We have a few more
15 questions. I'm just going to try and perhaps answer
16 them very briefly because we're just about out of
17 time. Any breakthroughs in a certain disease?
18 Somebody asked. The answer is, actually, FDA is not
19 allowed to comment on any INDs that are in house. We
20 can't tell you -- actually, we can't even acknowledge
21 that they're in house, so what we can tell you is
22 limited about things coming along.

1 Does the FDA have any collaboration with the
2 European Medicines Agency or other health authorities?
3 The answer is yes, there is a I want to say monthly or
4 maybe bimonthly meeting, a pediatric cluster meeting
5 with EMA and other regulatory agencies and there is
6 also an International Counsel Harmonization which has
7 several guidelines, for example, on pediatric clinical
8 trials. So those exist, but we don't have time to
9 talk about them at any length. So with that, thank
10 you very much for listening.

11 (BREAK)

12 DR. FERMAGLICH: Welcome back to FDA Rare
13 Disease Day 2022. Our next panel organized by the
14 Center for Drug Evaluation and Research, or CDER, and
15 moderated by Cardiologist and former FDA Clinical Team
16 Leader, Dr. Preston Dunnmon, will focus on the lessons
17 learned and outcomes of a public-private partnership
18 among diverse stakeholders to address a devastating
19 rare disease, amyloidosis.

20 Before the panel starts their discussion, Dr.
21 Kerry Jo Lee, Associate Director for Rare Diseases in
22 the Division of Rare Diseases and Medical Genetics in

1 the Office of New Drugs in CDER will start off with a
2 little background on CDER and their work in the rare
3 disease space. Dr. Lee.

4 DR. LEE: Thank you so much. I'm so happy to
5 be here. I'm Dr. Kerry Jo Lee. I am a pediatric
6 gastroenterologist/hepatologist who worked for many
7 years taking care of children with some of our rarest
8 conditions before coming to the FDA where I've been
9 for the past eight years. I currently am the
10 Associate Director for Rare Diseases at CDER in the
11 Office of New Drugs' Division of Rare Diseases and
12 Medical Genetics and I lead the Rare Diseases Team.

13 That's a multidisciplinary team that works to
14 coordinate rare disease policy and programmatic
15 functions across the center such as developing
16 guidances, educational training and engaging with
17 multiple stakeholders both internal and external to
18 the FDA in order to achieve our mission which is to
19 facilitate, support, and accelerate the development of
20 drug and biologic products for the benefit of patients
21 with rare diseases.

22 Drug development in rare diseases can be

1 complex for many reasons. They have challenges using
2 well-established trial designs in small populations,
3 selecting endpoints -- the outcome measures to
4 demonstrate benefits that are both robust and
5 clinically meaningful -- and we have challenges when
6 there is limited understanding of the natural history
7 of disease.

8 In order to overcome these challenges and
9 really move the needle in rare disease drug
10 development, it takes collaboration and communication
11 and we need to hear from patients and their caregivers
12 about what matters most to them. On the slide that
13 you have in front of you, I just wanted to highlight a
14 few of the many ways for patients to engage with us
15 here at CDER. So we have Patient Listening Sessions
16 and these helped provide insight and understanding of
17 the patient experience and this informs our
18 perspective on what is most important to patients.

19 We have Patient-Focused Drug Development
20 meetings. These are more systematic approaches to
21 help ensure patients experiences, perspectives, needs
22 and priorities are captured and meaningfully

1 incorporated into the drug development and evaluation
2 of drugs.

3 We have workshops or conferences and these
4 might be public meetings or more focused and targeted
5 workshops to solve specific challenges for development
6 in a condition and then we also have public-private
7 partnerships, one of which you will hear about today,
8 or consortia, and this is when you form collaborations
9 with other government agencies, industry, patient
10 groups, academia, and other stakeholders to really
11 promote the development of new tools and methods and
12 approaches to foster innovation and bring efficiency
13 into the FDA-regulated product development.

14 And finally, we have Critical Path Innovation
15 meetings and these are used generally as a forum for
16 FDA and stakeholders to discuss potential scientific
17 advancements in drug development, so biomarkers,
18 clinical outcome assessments, natural history studies,
19 emerging technologies, or other innovative conceptual
20 approaches.

21 I'm very happy to be here today to highlight
22 just one of the many efforts CDER review staff

1 undertake and introduce Dr. Preston Dunnmon who is a
2 cardiologist and former FDA clinical team lead from
3 the Division of Cardiology and Nephrology who really
4 exemplified the collaboration and communication that
5 we talk about during his time here at the FDA and his
6 work to advance drug development for amyloidosis, a
7 rare disease. Dr. Dunnmon, I'm happy to turn it over
8 to you to further share this work.

9 DR. DUNNMON: Kerry Jo, thank you so much.
10 Good morning, good afternoon, good evening, depending
11 on where on the planet you are, to everyone. My name
12 is Preston Dunnmon and I'm a cardiologist and want to
13 start today's session with a heartfelt thank you to
14 FDA for welcoming me back to participate in today's
15 proceedings.

16 The Center of Drug Evaluation and Research or
17 CDER and specifically the Division of Cardiology and
18 Nephrology was my professional home for the past 11
19 years during which time the public-private partnership
20 between FDA and the amyloidosis research consortium
21 was created. I'd like to pay special tributed to Dr.
22 Norman Stockbridge, my former boss and mentor at FDA

1 for his unwavering support of our efforts and
2 encouragement when the road was occasionally
3 difficult. His leadership was a critical enabler of
4 much of what you're going to hear about today.

5 So to start, amyloidosis is actually a group
6 of diseases, all of them profoundly serious, all of
7 them can be fatal, and all of them either rare or
8 ultra-rare or orphan and they affect different people
9 in different ways. These different manifestations of
10 these diseases make drug development really
11 challenging and until recently there were no
12 treatments.

13 About four years ago, The Center for Drug
14 Evaluation and Research entered into a public-private
15 partnership with the Amyloidosis Research Consortium,
16 specifically to tackle these barriers to developing
17 medicines for the various forms of amyloidosis. I
18 often get asked how did this public-private
19 partnership come into being and why? In short, it was
20 born from the combination of profound unmet medical need,
21 fascinating science, and the frustrated energy of
22 multiple stakeholders. What am I referring to here?

1 There was the frustration of the patients
2 with no approved drugs to treat these debilitating and
3 often fatal conditions. There was the frustration of
4 regulators and at the time it was me, who by mandate of
5 law, must have substantial evidence of both safety and
6 effectiveness in order to approve drugs. There was
7 the frustration of the academics whose voices on
8 subjects like biomarkers seem to go unheeded. And
9 there were the frustrations of industry trying to
10 understand how to engage the seven different divisions
11 at FDA that might become involved in reviewing an
12 application for a drug to treat the multiple different
13 organ systems that can be affected by amyloidosis.

14 So it was with this incredible unharnessed
15 energy that FDA and ARC, the Amyloidosis Research
16 Consortium, began a series of communications that led
17 to the formation of the Amyloidosis Forum where all
18 stakeholders could meet, hear the needs of the others,
19 understand what the hurdles would be to surmounting
20 these barriers that we faced as well. At our first
21 meeting, CDER made available senior staff from all of
22 the involved divisions including cardiology,

1 nephrology, neurology, gastroenterology, hematology,
2 clinical outcomes assessments and statistics.
3 Subsequently, the MHRA, which is the UK counterpart of
4 the FDA, joined our effort. Patients came to these
5 forums and told their stories and industry asked
6 questions about possible regulatory pathways to drug
7 approvals.

8 Along the way, ARC, with its focus on
9 supporting patients that critically to the sciences
10 behind drug development, applied for and received NIH
11 funding. Specialists in imaging joined our meetings,
12 papers were published, and I think more importantly,
13 CDER continued to engage in the support discussions
14 about these barriers to the approval of drugs in this
15 precompetitive environment.

16 Have no doubt, this work was hard. No one
17 got recognition rewards, no one got bonuses and no one
18 got consulting fees. Instead, the work of this group
19 arose from the commitment of patients, regulators,
20 industry and academics to move this field forward with
21 the goal of developing medicines for patients with
22 amyloidosis. We were fortunate to have four of these

1 experts with us here today on this panel.

2 So to start us off, it is my pleasure to
3 introduce our first speaker, Dr. Matthew Maurer, who
4 is going to talk about what amyloidosis is and how it
5 affects patients. Dr. Maurer is a Professor of
6 Medicine and Cardiology at Columbia University Medical
7 Center where he is also Director of the Cardiac
8 Amyloidosis Program. Importantly, he was the co-chair
9 of the steering committee of the ATTR-ACT trial
10 showing that tafamidis was safe and effective therapy
11 for transthyretin amyloid cardiomyopathy.

12 As many of you know, or may know, ATTR-ACT
13 was the study that was pivotal to the approval of
14 tafamidis in the United States. So Dr. Maurer, thank
15 you for being with us and I'll turn this over to you.

16 DR. MAURER: Thank you, Dr. Dunnmon, and I
17 want to thank ARC and the FDA and I don't think there
18 is an activity I've been more engaged in in the last
19 few years than the efforts of this public-private
20 partnership and it really all starts with being a
21 bedside clinician and having the privilege to care for
22 individuals who suffer from this disorder and I can

1 tell you they're wonderful people and they're lovely
2 and they deserve all the efforts of everyone that's
3 put into this in trying to accelerate on the
4 development of new therapies to address this
5 condition. So I'll briefly give an overview of the
6 disorder and particularly with regard cardiac disease.

7 These are my relevant disclosures and support
8 I have both from the government and from various
9 sponsors. So for those of you who are unaware,
10 systemic amyloidosis is a disorder in which there is
11 an extra-cellular deposit of a fibrillar protein and
12 that protein interferes with the structure and
13 function of numerous organs throughout the body, the
14 heart, the kidney, the liver, and so forth.

15 While there are dozens of proteins in the
16 body that can form amyloid in vivo in the heart which
17 is my focus there are really mainly two types and that
18 is AL, a disorder of the light chain which I'll
19 highlight in a minute and transthyretin, a disorder of a
20 protein produced by the liver that can either be in a
21 variant form with a mutation or exist as we say in the
22 wild, we used to call that senile cardiac amyloid

1 because it disproportionately afflicts older adults.

2 AL amyloid is really an amyloid in general is
3 a rare, but multisystemic, disorder which makes it
4 difficult for clinicians to diagnose and often leads
5 to delayed diagnoses and I briefly highlighted here
6 both with AL and TTR of the multifaceted different
7 organs and manifestations that a particular patient
8 can have that really lead to reduction in their
9 functional capacity and their quality of life,
10 recurrent hospitalizations and unfortunately early
11 demise.

12 These multisystemic nature really requires a
13 bunch of experts and obviously requires multiple
14 aspects of FDA to engage in trying to develop drugs
15 that can forestall any of the consequences.

16 In the world of amyloid and especially
17 obviously in cardiology being no exception, it's
18 imperative to distinguish what is the precursor
19 protein, if you will, that's causing the amyloidosis
20 and we spend an inordinate amount of time trying to do
21 this. We've gotten much better at it and that's
22 because the biology of these diseases, AL amyloid and

1 TTR are very different as is their natural history and
2 prognosis. The genetics are highlighted, there's a
3 genetic role in TTR, not in AL, and obviously
4 treatment is markedly different. One treated with
5 anti-plasma cell therapy, that is for light chain
6 amyloid, and quite distinct for TTR.

7 So over a very brief period of time, I would
8 say ten to 15 years, transthyretin has gone from a
9 very rare disease, still rare, but one that was
10 underdiagnosed and untreatable, to one that's
11 increasingly recognized and certainly treatable and
12 that's because we've now moved from needing an
13 invasive technique as shown in the middle there, a
14 biopsy of the heart to one in which we leverage a
15 nuclear scintigraphy to diagnose amyloid. This is an
16 approach that's available in almost every cardiology
17 practice in the United States of which there are
18 almost 10,000, and that has led to a marked increase
19 in our ability to diagnose patients with this
20 condition and more importantly diagnose them earlier
21 in the course of the illness and then I'll highlight
22 some of the emerging treatments that have been shown

1 both for AL and TTR.

2 So as I highlighted, this is one of the
3 seminal events in the field and now to FDA's credit ,
4 we are able to enroll patients in clinical trials and
5 no longer requiring an endomyocardial biopsy as we did
6 in the original ATTR-ACT trial that I had the
7 privilege of leading but now can enroll patients in
8 clinical trials using these noninvasive techniques that
9 rely on pictures of the heart and you can easily see
10 in the bottom here panel a patient who has a marked
11 cardiac uptake of the tracer indicating the disease.

12 In the world of light chain amyloidosis, a
13 really devastating form of cardiac amyloidosis, we had
14 really no, if you will, FDA-approved therapies.
15 Everything was borrowed from the space of a multiple
16 myeloma and I'm proud to say colleagues throughout the
17 world collaborated and this agent daratumumab which is
18 a monoclonal antibody against CD38 has been shown, on
19 top of standard therapy, to result in a much better
20 hematological response and better outcomes in
21 patients. These data were featured in the New England
22 Journal in part of the ANDROMEDA trial and led to the

1 approval by the FDA of daratumumab, a real seminal
2 event for patients with light chain amyloidosis.

3 And transthyretin amyloidosis and our
4 emerging therapies have been born out of incredible
5 work done by basic science researchers. For those of
6 you who don't know, transthyretin or prealbumin is a
7 tetrameric protein composed of four individual
8 monomers that are shown in this cartoon here in red,
9 yellow, green and blue and in the setting of either
10 aging or with variants in the protein, they dissociate
11 into monomers and those monomers can fold and
12 agglutinate forming amyloid fibrils that can either
13 deposit in the heart causing amyloid cardiomyopathy or
14 in the nerves causing amyloid polyneuropathy, and
15 notably most patients have a really a mixed phenotype
16 with deposits in both organ systems.

17 And from this emerging biology, production of
18 the protein by the liver with dissociation of the
19 protein as I said into legemers (ph.), a deposit in
20 the various organs. We've been able to now have
21 emerging strategies, some of which have been borne
22 fruit, if you will, and have approved therapies. One

1 is a TTR silencing or knockdown. That is, reducing
2 the production of transthyretin by the liver using
3 either small interfering RNA or antisense or maybe
4 even CRISPR-based therapy. TTR stabilizers, we heard
5 of tafamidis and its success and others are on the
6 path hopefully and emerging is the concept of anti-
7 amyloid therapies that may address preformed amyloid
8 fibrils in various organs including the heart and so
9 with all this excitement, it's really a privilege to
10 work with multiple stakeholders in advancing the care
11 of patients through this partnership with ARC and the
12 FDA. Thank you for your time.

13 DR. DUNNMON: Matt, thank you so much. Next
14 we will proceed on to our second speaker and then
15 we'll go through all the speakers and take questions
16 here at the end because I want to make sure that
17 everybody has a chance to describe to you their work.
18 Our next speaker here is Kristen Hsu. Kristen is the
19 Executive Director of Clinical Research at our partner
20 in this partnership, the Amyloidosis Research
21 Consortium, and Kristen is going to take you through
22 how the partnership actually works and how it produces

1 the outputs that it produces to help support the
2 development of medicines in this space. So, Kristen,
3 take it away.

4 DR. HSU: Thank you, Dr. Dunmon. Hi,
5 everyone. My name is Kristen Hsu and I'm the
6 Executive Director of Research here at ARC. My
7 background is actually in drug development. Before
8 joining ARC five years ago, my career had been focused
9 on planning and executing clinical trials across a
10 number of different disease spaces: from Alzheimer's
11 studies with thousands of patients to rare and ultra-
12 rare disease studies with maybe dozens or less.

13 Now, I had worked in Alzheimer's Disease for
14 a number of years. During that time, I never had the
15 opportunity to actually sit down and meet an
16 Alzheimer's patient, to speak with them or their
17 caregiver, or hear directly from them about what
18 living with Alzheimer's was really like. Rare disease
19 gives you that opportunity. It demands it, that you
20 learn directly from patients in order to design your
21 research and so that's really what prompted me to move
22 from industry to a patient nonprofit organization, the

1 chance to put my skills to use in a more patient-
2 centric environment.

3 So a little bit about the Amyloidosis
4 Research Consortium, or ARC. ARC is a patient-led
5 nonprofit organization. We were founded in 2015 by
6 Isabel Lousada, a patient with AL amyloidosis who had the
7 vision of making a material and significant impact to
8 the curability of amyloidosis.

9 ARC was founded during a time when the
10 amyloidosis landscape was rapidly changing. For the
11 first time, there were multiple companies interested
12 in the disease and a number of new promising therapies
13 in development but selecting the right patients and
14 endpoints within clinical trials was proving to be
15 very challenging. A promising drug for TTR
16 amyloidosis failed to meet its endpoint in phase
17 three clinical trials and was rejected by the FDA.

18 There was a huge risk of additional failures
19 and a need to develop a new model that would support
20 the potential and shift the changing landscape. And
21 so ARC's model is to work with and across all
22 stakeholders within the community, harnessing the

1 power of collaboration and innovation to advance
2 science and both improve and extend the lives of those
3 with amyloidosis. We pride ourselves on being a
4 science-based patient organization working to de-risk
5 drug development by strategically implementing
6 programs that we believe are critical to better care
7 for patients and facilitate and accelerate drug
8 development in these rare diseases.

9 Now, from our formation, we've been strategic
10 and stepwise in the way we've worked and have built the
11 programs at ARC. Our strategy has been driven by our
12 engagement with a broader research community to
13 identify the unmet needs and barriers that are
14 standing in the way of progress, bringing together the
15 best minds in amyloidosis across the patient
16 community, academia, regulators, industry, and other
17 related research fields.

18 Now, this slide shows some the key
19 initiatives from our formation that were instrumental
20 and led to the development of our public-private
21 partnership. We've been grateful to have always found
22 enthusiasm and willingness from FDA to both engage,

1 give thoughtful input and participate in our different
2 activities. Some of these engagements have been quite
3 successful while others have naturally been more
4 challenging.

5 In 2015, shortly after launching ARC, we held
6 our inaugural research meeting with experts including
7 representatives from the cardiorenal division of CDER
8 and representatives from the Office of Rare Disease.
9 We went on to hold one of the first externally-led
10 Patient Focused Drug Development meetings later that
11 year with 12 members of FDA in attendance representing
12 the Divisions of Cardiorenal, Hematology, Neurology,
13 The Rare Disease Program, and the Office of Orphan
14 Products Development.

15 Now, given the number of treatments that were
16 under development at that time, FDA was very eager to
17 understand the perspectives of patients with
18 amyloidosis. One of the standouts of that meeting
19 were comments from FDA that what they heard patients
20 voice as the most significant and impactful symptoms
21 of their disease were not actually being measured as
22 endpoints or even collective within the clinical

1 trials.

2 This shows the disconnect between the patient
3 experience and drug development and highlights the
4 need to incorporate patient involvement and
5 perspectives throughout research. From this meeting,
6 we subsequently submitted a "Voice of the Patient"
7 report to the FDA which has informed the benefit/risk
8 assessment made during multiple product reviews since.

9 Now, it was phenomenal that we heard the
10 perspectives and the unmet needs from patients through
11 this effort, but clinical and regulatory fields don't
12 necessarily always align when it comes to the endpoints
13 and measures that can or should be used in
14 clinical trials. As an organization, we've worked
15 really hard to figure out how to address those types
16 of challenges and some of them, like the work we're
17 doing around specific biomarkers, we're continuing to
18 refine and progress further.

19 In 2018, we held a research strategy
20 roundtable convening the leading experts across all
21 stakeholder groups to identify and align around the
22 most important priorities across the amyloidosis

1 research and development continuum. The consensus
2 went on to be published as a white paper and has
3 served as a roadmap for the research community within
4 amyloidosis. We were fortunate to have Dr. Dunmon
5 attend and participate in this meeting on behalf of
6 FDA. It was following this meeting and across
7 stakeholder discussions around the complexities of
8 cardiac AL amyloidosis that promoted FDA to invite ARC to
9 establish a public-private partnership which was
10 called the Amyloidosis Forum.

11 And so what is a public-private partnership?
12 A public-private partnership, or PPP, is a collaboration
13 between multiple stakeholder organizations including
14 at least one nonprofit, or 501C3 organization, to
15 achieve a shared goal that's beyond the capability of
16 any one stakeholder. What the forum allows us to do
17 is bring together the entire amyloidosis community to
18 partner on key initiatives that are designed to bridge
19 gaps in regulatory science and ultimately help improve
20 and speed up how quickly we can bring new, safe, and
21 efficacious drugs to the hands of patients with
22 amyloidosis.

1 We engage with researchers, clinicians,
2 patients, industry, FDA and MHRA and we're actively
3 working to include EMA as well. As Dr. Dunnmon
4 mentioned, our inaugural meeting in 2019 focused on AL
5 amyloidosis and the challenges facing designing
6 clinical trials for that population. As part of that
7 meeting, we identified a number of priority topics to
8 explore through the forum and those topics have
9 defined our activities to date. We're excited to
10 expand the focus of the forum to include TTR
11 amyloidosis and other rarer types of amyloidosis later
12 this year. We have an established steering committee
13 comprised of ARC, FDA and a number of the world's
14 leading hematology and cardiology experts in
15 amyloidosis.

16 The forum convenes regular public meetings
17 and has defined workstreams that focus on priority
18 areas. There is a high level of rigor that goes into
19 these workstreams and the resulting meetings.

20 Now, we heard from Dr. Dunnmon and Dr. Maurer
21 that amyloidosis is a complex, multisystemic disease
22 and that patients experience very different levels of

1 organ involvement. On top of that, the drugs that are
2 being developed to treat the disease are designed to
3 do different things in some cases, whether it be
4 stopping the production of the toxic protein,
5 preventing it from misfolding or removing the existing
6 deposits altogether. All of this makes it really
7 challenging to design trials that are meaningful to a
8 broad range of patients and achievable from both a
9 clinical and regulatory standpoint.

10 To tackle these challenges and address the
11 multisystemic nature of the disease, we established
12 organ specific working groups comprised of not only
13 various stakeholders within the community, but in a
14 number of cases even different specialties within each
15 stakeholder group. Addressing a multisystemic disease
16 like amyloidosis requires working both within and
17 across stakeholders and specialties. We've been
18 extremely fortunate to have had the remarkable
19 engagement with the community which you can see here
20 between 20 different regulators, 55 amyloidosis
21 clinician experts, 16 industry representatives and so
22 on.

1 Now, before I wrap up, I just want to give an
2 example of some of the work that we're currently doing
3 within the forum. I'll quickly walk through one of
4 these efforts designing a multidomain endpoint for AL
5 amyloidosis. Now, an endpoint, I've mentioned a few
6 times but an endpoint is an event or something that
7 can be measured objectively to determine whether a
8 treatment that's being studied in a trial is
9 beneficial. It's usually something that measures
10 whether patients feel better, function better, or live
11 longer. In many cases, endpoints are directly
12 related to a single organ affected by a disease.

13 Now, a multidomain endpoint is an endpoint
14 that considers changes a treatment may have on
15 several different affected organs like your heart,
16 kidney or liver. The goal behind this type of endpoint
17 is to better take into account each AL
18 amyloidosis patient's unique experience with the
19 disease and hopefully speed up drug development. A
20 multidomain endpoint could allow for enrollment of a
21 broader patient population, earlier detection of
22 treatment effects and allow for shorter follow up

1 within clinical trials.

2 Now, we've set about this goal by bringing
3 the community together to learn from other rare
4 diseases, establish organ-specific working groups that
5 I mentioned earlier with the goal of identifying and
6 prioritizing potential components to a multidomain
7 endpoint, and we're now working through the process of
8 evaluating those components through collaborations and
9 analysis of data collected across the community.

10 We're also focusing on how we can bring
11 together data from different clinical trials and
12 analyzing those data together to answer specific
13 questions that might help speed up drug development.
14 This is a process called federated data analytics.
15 It's something that James is leading on through the
16 forum and will talk a bit more about next. By
17 analyzing prioritized biomarkers across multiple
18 clinical trials through federated data analytics, we
19 hope to be able to evaluate whether they could be used
20 as endpoints in AL amyloidosis and potentially speed
21 up how quickly these clinical trials can be conducted.

22 All of this work requires tremendous

1 participation across the community and we're so
2 grateful to have had the involvement of so many
3 regulators, clinicians, researchers and patients to
4 date. Just to close, I'd like to thank FDA for the
5 opportunity to highlight the forum as one approach of
6 how to enhance product development within a rare
7 disease. Like many rare diseases, amyloidosis is
8 complex and multisystemic, and making meaningful
9 progress in clinical trials and drug development
10 requires cross stakeholder collaborations.

11 We're very eager to continue the important
12 work of the amyloidosis forum and to see it expand to
13 include additional types of amyloidosis later this
14 year. Thank you.

15 DR. DUNNMON: Kristen, thank you so much.
16 That was just a wonderful review of the activities of
17 our partnership and a nice segue into this issue of
18 endpoints and statistical tools with which those endpoints
19 might be measured. And for that, I'd like to
20 introduce our next speaker, Dr. James Signorovitch who
21 is Managing Principal of The Analysis Group in Boston
22 and formerly a research fellow at the Harvard MIT

1 Program in Health and Sciences and Technology. Dr.
2 Signorovitch advises life sciences organizations on
3 research strategies, regulatory strategies and
4 economic appraisals and real world monitoring of
5 outcomes. It is indeed our good fortune that he also
6 happens to chair the Statistical Working Group of the
7 Amyloidosis Research Forum. James.

8 DR. SIGNOROVITCH: Thank you, Preston. So as
9 Preston said, my expertise is in data analytics and I
10 really got into the rare disease space about 15 years
11 ago through the cystic fibrosis community. I was a
12 researcher doing research, presenting it at
13 conferences, and I still remember just the energy at a
14 cystic fibrosis conference and particularly the fact
15 that patients and family members attended and came to
16 the presentations and had really pointed questions
17 about the meaning of the research and how it would
18 have value for themselves or their family members and
19 that really had an impression on me and ever since
20 then I've tried to work in the rare disease space as
21 much as possible.

22 So what I'm going to talk about today is how

1 rare disease research isn't always smooth, there's
2 often challenges that arise and one of the special
3 things about the forum is how the collaboration and
4 the expertise involved and the structure really helps
5 us address those challenges in a timely way and
6 accelerate the important research that we're doing.

7 So like many initiatives in the rare disease
8 space, one of our main goals within the forum for AL
9 amyloidosis is to learn from clinical data to inform
10 smarter trial design. So how can we make trials
11 faster, how can we make sure they don't fail for the
12 wrong reasons, not because the drug doesn't work but
13 because the trial was poorly designed. How can we
14 reduce the need for unnecessary exposure to placebo
15 and do all this while still ensuring that we've
16 learned as much as we need about benefits and risks.

17 So, of course, if we can do this, that can
18 make trials more favorable for patients and it also
19 lowers barriers and can increase the throughput for
20 clinical development and by evaluating more therapies
21 rigorously and in a more timely way we can more
22 quickly find the ones that work. So this all sounds

1 great and the world of health data analytics is making
2 tremendous advances these days and in particular there
3 is very valuable guidance coming out from FDA and
4 others on how to make the most use of real world data
5 and other sources in these types of efforts.

6 But as those of us engaged in research know,
7 especially in the rare disease space, research doesn't
8 always follow a linear path and sometimes success
9 towards our end goal comes not just from our original
10 plan, but how we can be nimble and innovative and
11 responsive to what we learn and how we address
12 challenges along the way.

13 So we're going to focus here on two
14 challenges that are quite common in rare disease
15 research if you're involved in the space, you've
16 probably run into these. I certainly have on many
17 occasions across many different rare diseases. So one
18 of our specific goals in the Forum, as Kristen
19 mentioned, was to develop better endpoints for drug
20 development in AL amyloidosis. And a first hurdle
21 that was run into is for the particular goal on the
22 table at the time, which was developing a surrogate

1 biomarker. There just wasn't enough evidence out
2 there to meet the important standards that FDA has set
3 for surrogate biomarkers. And it wasn't just that the
4 evidence wasn't there, but the data wasn't available.
5 For this goal we really needed data from multiple
6 randomized controlled trials to be able to establish a
7 surrogate.

8 And so that -- if you go back five years ago,
9 there really wasn't any data, but even as that data
10 has accrued, it's not always immediately accessible.
11 And so advancing along this path, we have this end
12 goal in mind, we want to come up with better end
13 points, we have an initial plan we're going to develop
14 a surrogate biomarker. We run into these very, very
15 common challenges.

16 So because data weren't available to support
17 a surrogate, within the Forum we prioritized this
18 parallel path that Kristen mentioned of developing a
19 multidomain endpoint which is particularly well-
20 suited as she described and as Dr. Maurer described
21 for a multisystem disease such as amyloidosis. And
22 this is -- this goal of developing a multidomain

1 endpoint is really something that could not have
2 happened as quickly without the Forum since it cuts
3 across many different medical specialties and also
4 ties into cutting edge and innovative thinking from
5 FDA and other regulators about how to design and
6 validate these types of multidomain endpoints. They
7 are not easy and a lot needs to come together to make
8 sure that they're going to give us crisp answers on
9 whether a drug works or not and not cloud the results
10 of a clinical trial.

11 So a second challenge going back to our
12 original path of validating a surrogate biomarker is
13 that now after some years more data has come
14 available, so Dr. Maurer highlighted one of the trials
15 for daratumumab that's read out. There's been others
16 that have read out but not resulted in approvals but
17 we're now in a world where there is enough clinical
18 trial data out there to validate a surrogate, but the
19 challenge is that data is not readily accessible.

20 In particular, the data is spread out across
21 the world in different data silos. Some of these are
22 in academic centers in Europe and China, others of

1 these data are held by different pharmaceutical
2 manufacturers and for a number of very understandable
3 reasons these data cannot be pooled all in one place
4 anytime soon for analyses. Even when investigators
5 would wish to be able to share these data and pool
6 them, there are significant issues around patient
7 privacy at the national level that can really prevent
8 data sharing.

9 So as researchers that are looking to
10 accelerate research and answer important questions for
11 drug development, this can be quite frustrating. So
12 within the Forum, we're taking an innovative approach
13 to federated analytics that allows us to learn from
14 all of these data across the world in a harmonized,
15 rigorous, and coordinated way but without requiring
16 that the data leave institutions or cross
17 international borders. Essentially, we can take the
18 analytics that we wish to do and break them up
19 statistically into pieces that each center can run
20 themselves and then we can assemble all the results
21 centrally later without sharing that patient-level
22 data.

1 So this allows us to learn from the data
2 faster and reach important conclusions for drug
3 development sooner. One of the really important
4 things about the Forum that's enabled this kind of
5 research is with so many different groups across the
6 world, it was critically important that we have the
7 Forum in place and structured to develop a research
8 plan that we're sure was going to be valuable from a
9 regulatory perspective and to generate the kind of
10 momentum and engagement that would be needed to have
11 so many groups across the world put effort into this
12 type of databased collaboration.

13 So this is recapping what Kristen had shared
14 about the goals of the Forum and I hope what I've
15 shown by zooming in is that these goals are not always
16 easy, these roadblocks and hurdles are very common and
17 it really takes the right type of collaboration and
18 the right structure to address them and as someone
19 who's engaged in data-driven research, I'm truly
20 grateful to the ARC and FDA and all the clinical
21 collaborators for the dedication they've brought to
22 making this type of research possible. Thank you.

1 DR. DRUNNMON: James, thank you so much.
2 That really encapsulated the fact that what seems
3 simple is not and the devil is really in the details.
4 Our last speaker I'd like to introduce to you is Dr.
5 Rosalyn Adigun. Dr. Adigun is actually now CDER's
6 liaison to the public-private partnership. Dr. Adigun
7 completed her fellowship recently at Mayo Clinic where
8 she was recipient of the Barbara Bush Distinguished
9 Fellowship Award for outstanding clinical performance,
10 scholarly activity and humanitarianism. ARC and FDA's
11 public-private partnership is indeed fortunate that it
12 moves forward with Dr. Adigun as its liaison from
13 CDER. And with that, Rosalyn, I turn this over to
14 you.

15 DR. ADIGUN: Thank you, Dr. Dunnmon. Good
16 morning. It's my pleasure to be here today to give my
17 perspectives on public-private partnerships and I also
18 want to thank Dr. Stonebridge who is the Director of
19 the Division of Cardiology and Nephrology Products for
20 the opportunity for me to continue in this manner and
21 for his mentorship concerning this -- today's program.

22 So I would like to start with this image here

1 which shows the different stakeholders relevant and
2 important in public-private partnerships. We've seen
3 some variations of this in the earlier talks but I
4 think it goes to show that for this public-private
5 partnership to be successful, it takes a lot of work
6 by different stakeholders who have the shared common
7 interest of helping to advance the science and also to
8 bring relevant perspectives to the table to answer
9 critical questions and if I were a patient who was
10 watching this today, when we see what the Amyloidosis
11 Forum has accomplished, very commendable, but it might
12 be overwhelming because it's been years and tireless
13 efforts and commitments to establishing what is today known as the
14 Amyloidosis Forum but I want to encourage you because
15 I think the most important thing is to get involved,
16 especially in the rare disease space because getting
17 involved, even at your local community, over a period
18 of time can have significant impact.

19 So what are the benefits of a public-private
20 partnership? Through public-private partnerships, and
21 more specifically the one that I am involved with the
22 Amyloidosis Forum, CDER and the various stakeholders, some of

1 which you have heard from this morning, have been able
2 to leverage expertise in your various areas and
3 resources for mutual beneficial science activities in
4 the precompetitive space. These aims have targeted
5 finding innovative ways to advance drug discovery and
6 development and will also be able to get the
7 stakeholders to the table to discuss innovative ways
8 to promote collaboration across different spheres of
9 involvement with the ultimate goal of making the
10 results of these efforts available to the public and
11 to benefit public health which aligns with the mission
12 of the FDA.

13 And one thing I want to spend a few minutes
14 discussing are some of the limitations of CDER's
15 involvement with public-private partnerships. Our
16 involvement is limited to providing general
17 perspective from regulatory standards, scientific
18 issues, and scientific gaps related to precompetitive
19 drug developments and to that effect, we are not able
20 to comment on specific regulatory applications on
21 nonpublic information. We provide specific opinions
22 on the quality and quantity. We are not able to

1 provide specific opinions on the quality and quantity
2 of scientific evidence or regulatory decision making
3 and we do not provide opinions on what conclusion a
4 regulatory review might reach based on scientific
5 evidence. We do not provide recommendations on
6 specific applications intended for FDA review and we
7 don't give advice on specific proprietary drug
8 development programs.

9 So a lot has been said about public-private
10 partnerships, how the Amyloidosis Forum came to be,
11 especially with the work and the tireless efforts of
12 Dr. Dunmon and the Amyloidosis Forum in the early
13 days to be able to fashion what is now what we're
14 seeing today and has done a lot over the last few
15 years. But I want to spend a few minutes giving some
16 personal thoughts, drawing on my experiences as a
17 clinician and a medical officer who recently joined
18 the FDA.

19 We all know, will know, or have known someone
20 with a rare disease and I think this is the passion
21 that drives us to do the work that we do and try to
22 get everyone to the table to collaborate on ways to

1 bridge gaps in our knowledge and find innovative ways
2 to create endpoints meaningful across the sphere of
3 sciences to the patients through patient advocacy
4 groups and also one that could support the regulatory
5 approval of products. One of the things that is
6 very motivating for me are the words of Marie Curie.
7 "Nothing in life is to be feared, it's only to be
8 understood."

9 Now is the time for us to understand so we
10 may fear less. I think when we get together across
11 different groups and get to the table to discuss what
12 is meaningful to a patient and ways to get
13 drugs to the market that are safe and effective that
14 benefits the patient and protects the public health,
15 that is the true victory. And through programs like
16 public-private partnerships, which is one out of many
17 ways to engage the FDA, these can be fulfilled.

18 I want to thank you for the opportunity to be
19 able to share my thoughts today and I look forward to
20 answering any questions specific to public-private
21 partnerships. Thank you.

22 DR. DUNNMON: Rosalyn, thank you so much. I

1 know that we're at the end of our time. There are
2 several questions that I will commit to answering
3 offline, but I just wanted you all to hear one
4 question that I just received.

5 “To what degree was the creation of the Forum
6 due to industry interest and work being done that
7 allowed everyone to get together on the same page and
8 particularly FDA's interest in engaging? I ask
9 because I wonder if in other situations, the lack of
10 clarity around pathology, a clinical path forward and
11 endpoints becomes an impediment to having pharma
12 initiate development work.”

13 Bingo. This is it in a nutshell. And what I
14 can say is, we all have critical roles to play in
15 this, but when those doing the development work see no
16 path forward because there are 13,500 people at White
17 Oak and it's not quite clear whose door to knock on,
18 that in and of itself gets to be a barrier to moving
19 forward because people don't know where to go to ask
20 their questions. And so that's where this commitment
21 that CDER has made to this process is just so
22 incredibly critical from my perspective and my

1 experience and I certainly look forward to, Rosalyn,
2 it continuing under your auspices at FDA. With
3 that, I'm going to turn this back to Kerry Jo and the
4 organizers and if we have further time later on to
5 address questions, we will certainly do so.

6 DR. LEE: Thanks so much. I think we are out
7 of time, and so we'd just like to thank everyone so
8 much and I hope this session was informative and
9 really showed the commitment of collaboration. It
10 really does take us all. We are stronger together to
11 move the needle forward in rare disease drug development,
12 so thank you.

13 DR. FERAGLICH: Thanks, Dr. Lee. Our last
14 Center panel of the morning from the Center for
15 Devices and Radiological Health, or CDRH will focus on
16 their approach to patient input, how reviewers
17 consider benefit/risk for rare conditions and how CDRH
18 works to make devices available to patients with rare
19 conditions. It'll be moderated by Dr. Michelle
20 Tarver, Deputy Director, Office of Strategic
21 Partnerships and Technology Innovation in CDRH. Dr.
22 Tarver.

1 DR. TARVER: Good morning, good afternoon,
2 good evening. I am Michelle Tarver, I'm the Deputy
3 Director of the Office of Strategic Partnerships and
4 Technology Innovation at the US FDA Center for Devices
5 and Radiological Health. Our office provides
6 leadership in advancing partnerships with patient
7 organizations, healthcare professional organizations,
8 industry, scientific and any other external
9 organization to help support broad national, and
10 international patient-focused and regulatory science
11 programs and activities. I also am clinically an
12 ophthalmologist and I specialize in the care of people
13 living with uveitis, a rare eye condition.

14 I continue to clinically care for patients
15 and in this work, I consistently am reminded of the
16 impact that the work my colleagues at FDA do and how
17 they can really transform people's lives. Well, I
18 have the great pleasure of welcoming you to panel
19 four. During this panel, we will share with you how
20 we incorporate the perspectives of patients and all
21 the work we do and then focus on the journey of
22 reviewers in the evaluation of devices designed to

1 treat people living with rare diseases affecting their
2 bones.

3 I'd like to introduce you to our reviewers
4 from the Office of Health Technology 6, Dr. David
5 Scott who is an orthopedic surgeon and Commander
6 Michel Janda, a Biomedical Engineer. They're going to
7 share with you their experience evaluating a device
8 for bone tumors. Following their presentation, Dr.
9 Eileen Cadel, another Biomedical Engineer and Dr.
10 Caroline Moazzam, an orthopedic surgeon will share
11 their experiences reviewing the device for a condition
12 that many of us may have heard about or been screened
13 for as children, adolescent idiopathic scoliosis, a
14 condition where there is a curve in the spine.

15 Following their presentations, we're going to
16 have a panel discussion and we really welcome your
17 questions at that time, so as you think of your
18 questions, please go ahead and put them in the chat
19 because we're happy to address them.

20 So I'd like to first share with you the work
21 we are doing in patient science and engagement at our
22 Center. Patients are at the heart of all we do, as

1 you probably have heard us say that many times in the
2 past. In fact, we are inspired by patients and driven
3 by science. And this inspiration plays in the work
4 that we do in reviewing medical devices. Now, you've
5 heard a lot about different medical products. Medical
6 devices encompass a wide array of different products
7 from implantable pacemakers as well as diagnostic
8 devices that screen for elevated blood sugars or
9 evaluate the blood sugars in patients living with
10 diabetes.

11 We also have devices that people use at home
12 like contact lenses and lastly I want to mention that
13 we have devices that are involved in the diagnosis of
14 conditions such as blood tests that are used in COVID-
15 19 as well as genetic tests and markers and imaging
16 devices.

17 Regardless of what medical device we're
18 talking about, we look at the impact of the
19 perspective that patients can lend across that total product
20 lifecycle of the medical device, whether it's how the
21 device is being conceptualized, what areas they're
22 going to develop the device in, how that device is

1 designed in a way that's user friendly as well as how
2 is it studied, evaluated, and then monitored once it's
3 in use in the general population, patients can bring
4 perspectives that really can be helpful as we're
5 looking at all those different steps.

6 We see the impact that the patients'
7 perspectives on the work that we do. In fact, we have
8 had a number of different studies that have been done
9 looking at how patients weigh the benefits and risks
10 associated with their therapies and treatment
11 alternatives and those are called patient preference
12 studies. We've seen 25 of those so far to date and
13 they've had direct impacts on our decision making. In
14 fact, they've expanded our labeled indication for
15 certain devices so that more patients can have access
16 to certain devices. They've also helped to inform how
17 we might design a clinical trial when we don't really
18 know what an effective endpoint might look like for
19 patients. We take that input into consideration when
20 we define and design those trials.

21 We also see 50 percent of the clinical
22 studies that are done at our center include patient

1 reported outcomes, measures of how patients feel
2 and function. So I want to start first with a little
3 bit of definition because you've heard my colleagues
4 all morning talk about engaging patients and patient
5 reported outcomes and all these different things.
6 Patient engagement for us is defined as these
7 intentional interactions we have with patients that
8 allow us opportunities to have mutual learnings,
9 shared decision making and effective collaborations
10 really across a total product lifecycle as I eluded to
11 before.

12 This is bedrock, it's foundational for how we
13 develop the science of patient input and the
14 scientific contribution of patient input is ones that
15 are collected in a structured, well-defined way and
16 that could be a measure of how patients feel and
17 function which you've heard all about this morning as
18 well as the perspectives that patients bring in terms
19 of how they make a decision about how much they value
20 the benefits and the risks associated with a
21 particular medical product and that's patient
22 preference information.

1 So we've talked about a number of different
2 ways in which patients can scientifically impact our
3 regulatory decision making. I just didn't talk about
4 one of them which is patient generated health data and
5 that's kind of the new kid on the block. This is the
6 data that we're collecting every day. A lot of us
7 have watches or smart phones or other technologies
8 that are collecting data on how we're functioning all
9 day long and that data is increasingly being analyzed
10 and looked at as an opportunity to better understand
11 the patient's experience as they interface with
12 medical products.

13 I want to spend a little time sharing with
14 you some of the mechanisms that we have at our Center
15 for engaging with patients. One of them is the
16 Patient and Caregiver Connection. This particular
17 mechanism allows us to hear from patients at the time,
18 particularly the reviewers, at the time when they may
19 be trying to make some regulatory decisions or kick
20 off a regulatory effort. This allows us to hear from
21 patients about what it's like for them to live with
22 their condition as well as their experience

1 interfacing with medical devices that are used in the
2 diagnosis, management and treatment of their
3 condition. It also is a forum where patients can
4 share with us concerns they may have that are facing
5 their particular patient community.

6 We currently have 19 organizations in which
7 we -- that are part of this connection, many of which
8 are rare disease organizations and we reach out to
9 these organizations in many different ways to get
10 insights on what their experience may be. I'd like to
11 also share with you one other opportunity that we have
12 to formally get recommendations from patients and that
13 is the Patient Engagement Advisory Committee.

14 It's the only committee like it at the Agency
15 in that it is comprised solely of diverse patients,
16 caregivers, and patient advocates. The committee is
17 solely patients and that committee provides us formal
18 recommendations on general matters related to medical
19 devices. In fact, they weigh in on a number of
20 different topics and some of those topics include the
21 engagement of patients in the design, conduct of
22 clinical trials.

1 We've talked about patient-generated health
2 data and the ways in which you can give us insights
3 into how patients are interfacing with their medical
4 products once it's on the US marketplace. We've
5 talked about cybersecurity and many of us are hearing
6 about that every day and the threats that
7 cybersecurity potentially pose to medical devices, so
8 how can we communicate about cybersecurity
9 vulnerabilities more effectively to patients.

10 We had another advisory committee meeting
11 that touched on artificial intelligence and machine
12 learning. We increasingly are seeing this technology
13 in all aspects of our lives, including medical devices,
14 so what do we need to study, look at in order for
15 patients to feel comfortable with this technology in
16 their care as well as providers?

17 Our most recent meeting focused on medical
18 device recalls. Recalls are when there is a challenge
19 with a particular medical device and it may need to be
20 either remediated or come off the US market. In those
21 situations, how do we communicate more effectively
22 about those recalls and what information do patients

1 want to know about recalls and how can we make it more
2 transparent? These are topics that we discussed at
3 our last advisory committee meeting.

4 These conversations are not just discussions
5 but they result in deliverables, actionable outputs
6 from the Agency. In fact, from our first meeting that
7 I talked about where patients are involved in design
8 and conduct of clinical trials, we put forward a
9 guidance document that spoke to ways in which patients
10 can be engaged in the design process of a trial or a
11 clinical study as well as the benefits that industry
12 may gain from including patients in those processes
13 and then what FDA's considerations are with respect to
14 those particular types of activities.

15 We understand it's important to include patients
16 but it's also important to include patients with
17 diverse perspectives across age, race, ethnicity as
18 well as in rare disease populations. And so we worked
19 with our office at Minority Health and Health Equity
20 put forward a video encouraging underrepresented
21 populations to participate in clinical trials related
22 to medical devices.

1 The inclusion of patient perspective is also
2 important as I noted across medical product lifecycle
3 in our decision making and so we have communicated
4 back to industry through our guidance documents. In
5 fact, we have said that there's opportunities in every
6 kind of submission that you interface with at the
7 device Center to include the patient's perspective and
8 we will take that into account in our benefit/risk
9 decision making.

10 I had mentioned to you early at the outset of
11 my remarks about patient preference information and
12 this is kind of a new area where we are looking at
13 structured ways of collecting how patients are
14 weighing the risks and the benefits associated with a
15 medical device. We have issued guidance in 2016 and
16 it lays out a couple of overarching principles. The
17 first is that it's all about patients and so we need
18 to measure things in a very patient-centered way and
19 then the last two points are good research principles
20 in general. It should be designed well, conducted and
21 analyzed in a manner that is robust and can support
22 valid scientific evidence.

1 You've heard the mention of patient reported
2 outcome measures and you know that there's a guidance
3 document that is enforced by the entire Agency. In
4 fact, all product Centers put it out to clarify what
5 the expectations are around the development of patient
6 reported outcome measures.

7 CDRH also had a requirement to put forward a
8 guidance that clarified some of the least burdensome
9 principles in which we may develop patient reported
10 outcome measures, how industry may develop these
11 measures and this is -- Center for Devices is slightly
12 different from other medical products because we do
13 have in law a provision of using the least burdensome
14 pathway available. In our guidance, though, we ran
15 out some best practices, some efficiencies, ways to
16 include the patient's voice and do it using as many
17 pathways as possible because we want to minimize the
18 barriers to including patients in the medical device
19 evaluation process.

20 So as we journey from conceptualization of a
21 medical device to it being used in the care of
22 patients, I wanted to summarize a couple of points.

1 The first is that we at the Agency have really had a
2 paradigm shift where we are increasingly bringing the
3 patient's voice into the work that we do as part of our
4 daily business. It's not an exceptional event, it is
5 an everyday event.

6 The other thing that we are doing is that we
7 are looking at ways that we can proactively bring that
8 voice to bear in multiple aspects, so not just at the
9 time of the trial but are there other opportunities
10 where we can understand patients' perspectives and
11 bring them into play.

12 And then lastly, I want to emphasize the
13 importance of collaboration. You've heard that
14 already mentioned on the meeting today, but it really
15 is an important element because we can't do it alone.
16 We really do need all of the stakeholders in the
17 ecosystem and this collaborative approach really
18 creates solutions that works across the ecosystem.

19 And this was really the impetus behind our
20 most recent strategical priority of collaborative
21 communities. That particular initiative is a
22 continuing forum for public and private sector members

1 to work together to solve shared challenges, leverage
2 collective opportunities in order to achieve common
3 outcomes and objectives.

4 We currently are participating in 12
5 collaborative communities and you can see some of them
6 listed on the slide. One of the very beautiful things
7 about these collaborative communities is that they
8 include patients at the table as equal stakeholders
9 with other contributors and there are a number of
10 different collaborative communities that are tackling
11 some of the topics that are relevant to the rare
12 disease patient population.

13 So with that, I will conclude my remarks and
14 I will turn it over to Dr. Scott to present on osteoid
15 osteoma. Dr. Scott.

16 DR. SCOTT: Thank you, Dr. Tarver.
17 Greetings. Welcome to the FDA and to the Center for Devices
18 and Radiological Health, CDRH. My colleague Commander
19 Michel Janda and I have the privilege of presenting to
20 you a device approved as part of a very important and
21 special program tailored to improve the welfare of
22 patients with rare disease or conditions.

1 Unfortunately, only a small portion of the 7,000 known
2 rare diseases have approved treatments. Developing
3 and marketing a novel device or technology for a small
4 group of patients may be slowed by cost considerations
5 and the scarcity of suitable patients for clinical
6 trials.

7 CDRH responded to this humanitarian aid by
8 developing an innovative pathway, the Humanitarian
9 Device Exemption or HDE program to encourage the
10 development of medical devices for rare diseases or
11 conditions that affect or manifested in not more than
12 8,000 individuals in the United States per year.
13 After being designated as a humanitarian use device
14 based on census data or population estimates, devices
15 eligible for inclusion in HDE program, the pathway
16 allows for the approval of novel devices by using a
17 lower threshold for demonstration effectiveness,
18 namely probable benefit. However, the threshold for
19 device safety remains unchanged.

20 Images of three devices approved through the
21 HDE program are shown on this slide, all of which have
22 the potential for dramatically improving the welfare

1 of patients with indicated rare disease or condition.
2 Commander Janda and I will review the regulatory
3 journey in the Sonalleve Magnetic Resonance Guided
4 High Intensity Focused Ultrasound, or MR-HIFU System
5 while our colleagues Dr. Cadel and Dr. Moazzam will
6 discuss recently approved vertebral body tethering
7 systems.

8 Our journey begins with a brief overview of
9 osteoid osteoma, a benign bone tumor that qualifies as
10 a rare disease. I will discuss biology, natural
11 history, and standard of care treatments with
12 particular focus on the disproportionate impact that
13 even a nonmalignant disease can have on patient
14 welfare. After defining the limitations established,
15 therapies, the helm will be turned over to Commander
16 Janda who will introduce the technology underlying
17 this HDE and guide you through the review process.

18 Osteoid osteoma is a relatively rare,
19 biologically benign bone tumor that typically occurs
20 in the cortex or outer layers of long bones such as
21 the tibia or femur, primarily in children and young
22 adults. The tumor core nidus is highly vascularized

1 boosting prostaglandins and other inflammatory
2 mediators. Osteoid osteoma is often referred to as
3 the "great mimicker" because the pain which
4 characteristically worsens at night disrupting sleep
5 is often dismissed as resulting from local trauma or
6 as nonspecific growing pains.

7 Traditional radiographs maybe nondiagnostic,
8 but particularly in advanced cases, classically
9 demonstrate cortical thickening surrounding the area
10 of central clearing. Although an osteoid osteoma
11 remains small, typically one to two centimeters in
12 diameter and does not metastasize or spread, its
13 impact on patients is often disproportionate to its
14 size. Delays in diagnosis and treatment can result in
15 significant mental and emotional suffering, physical
16 disability and missed social and sporting opportunities
17 for young children and adolescents. Osteoid osteomas
18 are less commonly associated with bony deformities,
19 growth disturbances, joint damage shown as in case one
20 on the left or painful scoliosis potentially requiring
21 spinal fusion as shown in case two on the right.

22 Nonsteroidal anti-inflammatory medications,

1 such as ibuprofen, may be exquisitely potent in
2 reducing tumor-related pain. However, some patients
3 may require stronger analgesics such as opioids or
4 narcotics to comfortably function. Both types of
5 medications pose long-term risks and toxicities.
6 Surgical removal of the tumor, specifically the nidus,
7 remains a well-documented and effective
8 treatment. Intraoperative localization of the lesions
9 may however be difficult leading to significant bone
10 resection and damage to surrounding tissue.

11 Young patients such as these with large
12 defects in weightbearing bones will not return to
13 normal play in sports for some time.

14 Radiofrequency ablation, commonly referred to
15 as RFA, is less invasive than surgical resection.
16 Under CT guidance, a needle and a hollow
17 bored tube is advanced into the tumor core. The
18 needle is then exchanged for radiofrequency probe
19 which ablates or destroys the tumor by briefly heating
20 it to a 90 degree Centigrade, or if you prefer, 194
21 degrees Fahrenheit. Cryotherapy is a similar
22 treatment that substitutes cycles of extreme cold for

1 heat. Although RFA is generally successful and may
2 quickly eliminate tumor-related pain, complications
3 such as skin burns, nerve damage, infection and
4 fracture are possible.

5 Established osteoma treatments are effective
6 but associated with significant risks. Although the
7 adverse events and complications associated with
8 invasive procedures such as surgery and RFA are
9 generally well understood, the long-term effects
10 ionizing radiation, particularly for children, are
11 less well defined. A relatively new ablation
12 technology, high intensity focus ultrasound, or HIFU, is
13 noninvasive and is guided by MRI. Imaging that offers
14 high precision but unlike a CT scanner does not
15 produce ionizing radiation. Commander Janda.

16 COMMANDER JANDA: Thank you, Dr. Scott. The
17 Sonalleve MR-HIFU system proposed an alternative
18 noninvasive treatment for osteoid osteoma that did not
19 require the use of ionizing radiation. The Sonalleve
20 system includes the patient table assembly that is put
21 in an existing MRI scanner, a generator cabinet that is
22 used for power resolution and controls electronics of

1 the ultrasound transducer and finally a therapy
2 planning consult with software used for treatment
3 planning, monitoring, and review.

4 The first step in the review process was to
5 assemble a multidisciplinary review team. CDRH is
6 uniquely positioned to draw on expertise from multiple
7 specialties to evaluate new technologies. This HDE
8 brought together a diverse and exceptionally
9 strong team of engineers, scientists, and
10 clinicians.

11 MR-guided HIFU treatment combines both
12 therapeutic focused ultrasound with real time
13 monitoring of local temperature changes. This means
14 that the ultrasound transducer located external to the
15 patient's body generates a focused acoustic beam that
16 can heat and destroy an internal target. This is
17 combined with an MRI console that displays temperature
18 maps, also known as thermograms, to improve the
19 procedure's safety and effectiveness. During step two
20 of the review process, our team thoroughly evaluated
21 the electronics, hardware, software, and preclinical
22 testing.

1 Step three of the review process focused on
2 clinical data. Studies of a similar device, the
3 ExAblate MR HIFU system, previously approved by the
4 FDA for the treatment of uterine fibroids and for the
5 palliation of metastases-related bone pain provided
6 useful real world data and evidence. However, the
7 clinical review focused mainly upon a recently
8 completed clinical study. This study was an FDA-
9 approved investigational device exemption, or IDE, that
10 evaluated the effectiveness of the Sonalleve MR HIFU
11 system for treating osteoid osteoma in patients under
12 25 years of age with an accessible tumor.

13 This single arm study that enrolled nine
14 patients and followed their post-treatment progress
15 for at least a year. The patients for this study were
16 typically diagnosed based on symptoms, usually
17 localized pain combined with imaging. The left three
18 panels of this slide demonstrate characteristic bone
19 scans, plain x-ray, CT scan on the top middle and an
20 MRI scan on the bottom. The locations of the osteoma
21 core, or nidus, is circled in each of these images.
22 The top right half of the slide shows pre- and post- MRI

1 maps that are colored according to blood flow from
2 least in blue to most in red. Comparison of the two
3 maps show an obvious post-procedure decrease in tumor
4 hypervascularity and bone marrow edema.

5 Although the changes in the imaging were
6 impressive, study success was determined by patient
7 reported outcomes such as pain relief and function.
8 Measures such as pain visual and analog scale, the
9 symptom distress scale, the PROMIS pediatric pain
10 interference and the PSQL scale give patients and
11 their parents an opportunity to shape and guide their
12 treatments. In this case, MR-HIFU therapy resulted in
13 dramatic pain reduction, improved functioning, and a
14 reduction in sleep disruption and a reduction in
15 medication use.

16 These clinical benefits were not associated
17 with any serious complications or adverse events. The
18 most common complaint from patients was localized leg,
19 foot, or muscle discomfort. This was anticipated
20 given the nature of the procedure. The discomfort was
21 rated by patients as mild to moderate and rapidly
22 resolved.

1 This HDE was ultimately approved after
2 meticulous review of the demonstrated benefits and
3 risks. Two rounds of review were needed with each
4 round completed in about two months. Frequent
5 interactions between the review team and the device
6 manufacturer expedited the approval process. The
7 review team did accept some uncertainty given the
8 limited clinical data. However, the HDE pathway
9 allows the FDA to accept greater uncertainty which
10 ultimately allows patients earlier access to an
11 innovative treatment option.

12 CDRH continues to monitor real world
13 experience with this technology including published
14 studies, literature reviews, and ongoing clinical
15 trials. Post-approval feedback for this technology
16 remains strongly positive. We thank you for your time
17 and for this opportunity to showcase some of the
18 merits of the CDRH's HDE program. Our next CDRH
19 presenter is Dr. Caroline Moazzam.

20 DR. MOAZZAM: Hello and welcome to the FDA.
21 I am Dr. Caroline Moazzam. Today my colleague Dr.
22 Eileen Cadel and I will talk to you about our

1 experience with orthopedic HDEs for pediatric
2 scoliosis devices.

3 As our colleagues just described, FDA has a
4 two-step process for approving devices intended to
5 treat or diagnose rare or orphan diseases. That
6 sounds very formal but means that a group of experts
7 at FDA decides if a device is meant to identify or
8 treat a disease that affects less than 8,000 people a
9 year. Then a different group of experts at FDA
10 decides if the device is safe and probably beneficial
11 for the less than 8,000 affected people.

12 Scoliosis can be broadly defined as an
13 abnormal curvature of the spine. Many folks with
14 scoliosis are diagnosed between the ages of ten and
15 15, but the condition also affects infants and adults.
16 Subsets of scoliosis can be defined in many ways such
17 as by patient age or curve severity. Scoliosis in
18 children has a variety of causes. All of these
19 different subsets of scoliosis have treatments that
20 are tailored to individual patients in collaboration
21 with their doctor, their families, and then entirety
22 of their care team.

1 Today we'll be focusing on children whose
2 scoliosis doesn't have a known cause. The medical
3 name for this condition is idiopathic scoliosis and
4 the most common type is adolescent idiopathic
5 scoliosis or AIS.

6 So is scoliosis a rare or orphan disease? An
7 estimated 7 million people in the United States have
8 scoliosis. That number includes all the types we just
9 talked about and more. When we consider the
10 adolescent idiopathic scoliosis patients and we think
11 about the ones whose curves are getting worse and who
12 are not done growing, we start to define a patient
13 population that is estimated at about 6,000 patients a
14 year in the US.

15 Now we have a so-called rare or orphan subset
16 of scoliosis which allows FDA to utilize the
17 regulatory flexibility of HUDs and HDEs. Having
18 regulatory flexibility promotes innovation and
19 development of devices for rare subset populations.

20 There are general treatment guidelines which
21 include observation, bracing and surgery. Observation
22 may be appropriate for patients with curves that will

1 never progress past 25 or 30 degrees. Observations
2 may continue for years with x-rays to reassess the
3 spinal curve and determine whether it is causing
4 problems for the patient.

5 Bracing may be recommended to stop a spinal
6 curve from getting worse. The 2013 study in the New England
7 Journal of Medicine found that braces work, but work
8 best when worn 18 hours daily. Now, that sounds
9 simple enough but anyone with personal experience with
10 braces will be happy to give you an earful about how
11 awkward, uncomfortable and cumbersome they are for
12 preteens, teens and their families.

13 We at the FDA hear AIS patients and their
14 families. We are listening when they tell us that it
15 is very difficult to keep up with these braces for
16 anything close to 18 hours a day. We also know that
17 bracing can help prevent curve progression but braces
18 do not correct, improve, or reduce a spinal curve.

19 The gold standard surgical option for
20 scoliosis is spinal fusion. Surgery results in
21 immediate correction of the spinal curve. However, it
22 results in permanently fusing the instrumented levels

1 of the spine. This means no motion, no flexibility,
2 and no growth in the area fused.

3 Here at the FDA, we understand that patients
4 don't always fit nicely into the three treatment
5 categories. There is a newer treatment for AIS,
6 broadly called growth friendly or non-fusion surgery.
7 Non-fusion surgeries internally direct growth to help
8 modulate or correct curves. Because they direct
9 growth, they are only options for patients who are not
10 done growing. To date, two devices have been approved
11 by the FDA under the regulatory flexibility of HDEs as
12 growth friendly or non-fusion devices to treat
13 idiopathic scoliosis in skeletally immature patients.
14 I will now hand off to my colleague, Dr. Cadell, who
15 will share more. Dr. Cadell.

16 DR. CADELL: Thank you so much, Dr. Moazzam.
17 The tether from Zimmer Biomet Spine was approved as an
18 HDE, or humanitarian device exemption, in August of
19 2019. The tether is for skeletally immature patients
20 with progressive idiopathic scoliosis. The device
21 functions by placing screws in the spine on the convex
22 side of the spinal curve. A tensioning cord, similar

1 to a shoelace, is secured to each screw to connect
2 each spinal level to one another creating a link
3 system. The tether provides tension on the convex
4 side of the curve that increases as a patient grows.
5 As the tension in the cord increases, it stops the
6 spinal curvature from progressing or with enough
7 tension and growth can correct the spinal curvature
8 altogether.

9 The minimally invasive deformity correction
10 or MID-C system from ApiFix was approved as an HDE
11 also in August of 2019. The MID-C system is for
12 skeletally immature patients also with adolescent
13 idiopathic scoliosis. The device acts as an internal
14 brace to achieve spinal curve correction and
15 stabilization. It is a ratchet-based expandable rod
16 that attaches to the spine using two screws on the
17 concave side of the spinal curve.

18 As the device expands, the rod that attaches
19 to the spine using two screws on the concave side of
20 the spinal curve. As the device expands during
21 activities such as physical therapy, the spinal curve
22 is corrected incrementally until the device is fully

1 extended.

2 Following HDE approval, the tether and MID-C
3 system are currently available in the US as
4 humanitarian use devices, or HUDs. For both devices,
5 post-approval registries were established to see if
6 outcomes were the same once more patients had the
7 device implanted for a longer period of time. The
8 Office of Orthopedic Devices is always working to
9 advance development of novel devices for patients with
10 rare diseases. This work requires partnerships with
11 patients and their families, patient advocacy groups,
12 and stakeholders from all sectors of the medical
13 industry.

14 We also participate in various activities to
15 make sure the work to develop devices for patients
16 with rare diseases is constantly progressing. In
17 addition, we encourage patients, caregivers, consumers
18 and healthcare professionals to submit voluntary
19 reports of significant adverse events or product
20 problems with Med Watch, the FDA's Safety Information
21 and Adverse Event Reporting program. This allows FDA
22 to ensure that the experiences of patients,

1 caregivers, and patient advocates play an essential
2 role in the development of medical devices.

3 The two HDE-approved devices, the tether and
4 MID-C system are examples of orthopedic devices that
5 have taken advantage of the regulatory flexibility
6 that is available for devices for rare patient
7 populations. By listening to patient preferences and
8 capturing these in patient reported outcomes, HUDs and
9 approved HDEs allow patients with rare diseases to
10 have access to alternative treatments tailored to
11 their specific condition.

12 But the work doesn't stop at HDE approval.
13 CDRH is continually looking for direct patient
14 engagement opportunities, whether it be through
15 engagement with patients and caregivers, patient
16 advocacy groups and patient engagement events.

17 In summary, both Dr. Moazzam and I want to
18 make sure that you are aware of how seriously we take
19 our mission here at the FDA, which is to protect and
20 promote public health. Today's event is just to show
21 you a few of the very, very many ways that we work to
22 make certain that we are protecting and promoting the

1 health of patients with rare or orphan conditions
2 while promoting innovation and bringing it into
3 clinical application. We are only two of thousands of
4 people here at the FDA that are working each and every
5 day for you. Thank you for allowing us to share our
6 experiences with reviewing devices for adolescent
7 idiopathic scoliosis and we truly are honored to be
8 with you here today. And with that, I will turn it
9 back over to Dr. Tarver.

10 DR. TARVER: I wanted to thank all of our
11 panelists and presenters because they've put flesh on
12 the bones of what I described in terms of our patient
13 involvement efforts, our patient engagement and
14 patient science efforts. I'd like to first -- I think
15 we received one question that I'd like to direct you
16 to CrowdCompass to see the response to. Somebody asked,
17 "How do we get involved in the Patient Engagement
18 Advisory Committee?" And the way that you can apply,
19 if you're interested in participating, is by visiting
20 that link and getting additional details. But always
21 stay posted for notices of when those meetings are
22 occurring. You can always listen in. They are open

1 to the public.

2 I'd like to ask a specific question to Dr.
3 Scott. We talked about osteoid osteoma and scoliosis
4 and what are the questions that you think that parents
5 or patients should really ask their healthcare
6 providers when they're considering medical devices in
7 the treatment of their health conditions?

8 DR. SCOTT: That's a wonderful question, Dr.
9 Tarver. Thank you for asking that. Ensuring patient
10 access to medical information is often an overlooked
11 issue. We could spend the rest of the afternoon
12 really talking about that. Unfortunately, time is
13 brief, so I'll try to condense it down to my four
14 rules, or golden rules, if you will.

15 First of all, patients should be sure that
16 the healthcare providers that at they are talking to
17 are the correct ones. Again, these are highly
18 specialized fields for both the osteoid osteomas as
19 well as for idiopathic scoliosis and so you want to
20 make sure that your healthcare providers are not only
21 know about these things but actually are the people
22 that treat patients, are actually providers.

1 Referrals to academic medical centers or
2 specialized hospitals are great and in the era of
3 telehealth, it's a worthwhile investment that can be
4 done very easily.

5 Rule number two, if you have a question, ask
6 it. This is not a period where you should be bashful.
7 I always encourage patients to bring a written list of
8 questions with you. Have them written out, make sure
9 your questions get answered while you're there with
10 the healthcare provider. Also, take notes. It's very
11 difficult in a short period of time sometimes to
12 ingest all of the information that your healthcare
13 provider is going to give to you. Take notes so that you
14 can go home and look at them.

15 Rule number three is, make sure you get the
16 answers to your questions so that you can make an
17 informed decision. Options are particularly
18 important. Know what your alternatives are for the in
19 case of rare diseases, clinical trials often are an
20 excellent source of medical care. Know what the
21 effectiveness and safety of each option is. It's the
22 same thing that we do here at the FDA. So you need to

1 know that for each of the options.

2 Know about recovery. Two very similar
3 treatments in terms of effectiveness and safety may
4 have very different recovery periods. Certainly
5 that's a very important consideration. Know about
6 complications. One thing that we don't talk to
7 patients about or we're not very good about is costs.
8 What are the financial costs of the different options?
9 That's something that your healthcare provider may not
10 necessarily know but they should be able to refer you
11 to the appropriate person.

12 One of the best things to do when you've gone
13 through all the questions and you're sure that at the
14 end of your meeting with healthcare provider, ask the
15 healthcare provider "What questions haven't I asked
16 that I should have?" and that way it gives the
17 healthcare provider the chance to fill in some things.

18 And then finally, benefit/risk ratio. You
19 really need to tailor that with regards to your
20 particular child, your values with what's important to
21 you. Make sure that's included. As part of that, I
22 always think it's great for patients to meet with

1 patients who have previously had a treatment, either
2 directly or indirectly, patients provide a very
3 valuable perspective that you may not get from your
4 healthcare provider. The healthcare provider also can
5 help you with referring you to other sources of good
6 information. Be very careful about Dr. Google and Dr.
7 Yahoo!

8 Finally, rule number four, if in doubt, if
9 you're not absolutely sure, ask for a follow up
10 appointment. Those are great, it gives you a chance
11 and time to digest things and come back with new
12 questions. Also, I'm a big fan of referrals, getting
13 a second opinion. That's also going to be very
14 helpful. The good news for osteoid osteoma and
15 scoliosis, they're not emergent conditions. You have
16 time to make a very good, informed decision.

17 DR. TARVER: Thank you very much, Dr. Scott.
18 Very helpful. I want to end with one question about
19 the regulation. The Orphan Drug Act currently defines
20 rare diseases as those with an incidence of less than
21 8,000 cases per year or prevalence of less than
22 200,000. Can the HDE program be applied to diseases

1 or conditions with more than 8,000 people being
2 diagnosed each year? And I'd like to Dr. Cadel to
3 answer that question, please.

4 DR. CADEL: Thanks so much, Dr. Tarver. So
5 that's a really great question and the HDE program can
6 really only be applied to devices that have been
7 designated as humanitarian use devices, or HUDs, and
8 this is actually defined by an act of Congress and the
9 HDE program can only be used for devices that are
10 intended to treat or diagnose conditions that affect
11 no more than 8,000 patients per year in the US, so our
12 hands are a little bit tied from that perspective.
13 But I will say that the HDE program is just one
14 program within CDRH that's aimed to reduce some of
15 these regulatory hurdles to get devices on the US
16 market to help patients with rare diseases.

17 There are some other programs and these
18 include the Orphan Drug Program and rare pediatric
19 disease and designation voucher programs that are
20 really beneficial for these rare orphan patient
21 populations. But I did also want to emphasize that, as
22 Dr. Moazzam talked about in our presentation, a

1 medical device company can get an HUD designation for
2 a subset of conditions that really divides that
3 broader 200,000 patient population into smaller
4 subsets. And so this way, medical device companies can
5 take advantage of the HDE program and get their
6 devices to the patients who really need it in the best
7 way possible.

8 DR. TARVER: Thank you very much. I believe
9 we are out of time, but I really want to thank my
10 fellow panelists, the Office of Orphan Products
11 Development, and the audience for their rich questions
12 and I really want to say we thank you, the patients.
13 You all provide insights and learning that really do
14 transform the work we do. So thank you and I will
15 turn it back over to the organizers.

16 DR. FERAGLICH: Thank you, Dr. Tarver.
17 We'll now take a 40-minute break for lunch. Over
18 lunch, please enjoy some recorded stories of rare
19 disease patients and caregivers in their own voices.
20 Please rejoin us for afternoon remarks from the
21 Principal Deputy Commissioner of FDA, Dr. Janet
22 Woodcock.

1 (BREAK)

2 DR. FERMAGLICH: Welcome back. I now have the great honor
3 of introducing our next speaker, Dr. Janet Woodcock,
4 the newly appointed Principal Deputy Commissioner of
5 FDA. Dr. Woodcock began her long and distinguished
6 FDA career in 1986 with CBER as Director of the
7 Division of Biological Investigational New Drugs. She
8 also served as CBER's acting Deputy Director and later
9 as Director of the Office of Therapeutics Research and
10 Review.

11 In 1994, Dr. Woodcock was named Director of
12 CDER, overseeing the center's work that's the world's
13 gold standard for drug approval and safety. In that
14 position, she's led many of the FDA's groundbreaking
15 drug initiatives. She's also served in other
16 leadership roles at FDA including as Deputy
17 Commissioner, Chief Medical Officer, and most
18 recently, acting Commissioner of Food and Drugs.
19 Without further ado, Dr. Woodcock.

20 DR. WOODCOCK: I'm delighted to join with you
21 today to mark the FDA's Rare Disease Day, part of the
22 global recognition of Rare Disease Week. This event

1 brings together patients, families, caregivers and
2 advocates along with many other stakeholders including
3 drug and product developers, clinicians, researchers,
4 representative of industry and healthcare
5 organizations. So, clearly takes a village. Each of
6 these groups in different ways contribute to speeding
7 the development of medical products to diagnose and
8 treat rare diseases and to increase the quality of
9 life for those living with these diseases.

10 At the center of this work are the voices and
11 experience of patients, but as this broad-based
12 gathering reaffirms, we achieve our greatest success
13 in these goals by sharing information through
14 collaboration and teamwork, listening to and learning
15 from each other and supporting each other's work,
16 resources and areas of expertise. The FDA plays an
17 important role in these kind of partnerships, not just
18 in the work that we do to support your efforts, but
19 within the agency itself through collaboration between
20 our Centers and across the entire FDA, whether through
21 efforts to encourage scientific and medical
22 innovation, by providing grants to support research

1 and development of new treatments or through careful
2 review of product applications to make sure they're
3 safe and effective.

4 We take this work and our responsibilities
5 very seriously. That's why I'm especially pleased by
6 the focus and format of this year's event. The theme,
7 "Sharing Experiences in Rare Diseases Together" gives us
8 a chance to recognize the important work and essential
9 collaboration of the many different stakeholders in
10 this area. It also provides an opportunity for you to
11 learn more about some of the important work FDA staff
12 are engaged in as well as about the deep commitment
13 that these public health professionals bring to their
14 work in rare diseases.

15 It's a field that involves a broad range of
16 activities and challenges across many scientific
17 disciplines and it's one that comes with substantial
18 hurdles, as you all well know, for the development of
19 treatments in this area as well as significant costs.
20 The very nature of a rare disease that affects only a
21 relatively small group of individuals means that the
22 field faces unique logistic, scientific, and economic

1 obstacles. That's where the FDA can and does play an
2 important role. Those who work at the FDA look at
3 these challenges actually as opportunities. Indeed,
4 the goal of finding new and better ways of approaching
5 the challenge of rare diseases and to help us
6 facilitate the development of new treatments and cures
7 is central to our mission to promote and protect the
8 health of all Americans.

9 So today you'll have the opportunity to hear
10 directly from FDA's scientists, regulators and others
11 about their experience working on products submitted
12 for rare diseases. They will explain the importance
13 of their work to help ensure that everyone in the
14 country with an illness has access to the safe and
15 effective medicines and treatments they need and they
16 will discuss why this work has such personal meaning
17 for them.

18 As I mentioned, at the center of this work is
19 the patient's voice and perspective. It informs and
20 inspires everything they do, every stage of the
21 process, keeping that voice front and center helps
22 reinforce and remind us who we're working on behalf of

1 every day.

2 Additionally, by examining and asking whether
3 a drug or device improves how a person feels,
4 functions, or survives, we can strengthen and support
5 the many different aspects of the development and
6 review process. It provides insight for the
7 risk/benefit assessments that FDA staff conduct for
8 products under review. It helps us identify areas of
9 unmet need and it supports the work of developers of
10 medical products to identify, create, or improve
11 appropriate clinical outcome assessment tools which in
12 fact have been rather sorely lacking in this space.

13 In short, from providing feedback on health
14 and quality of life factors to critiques of clinical
15 trial design from the participant perspective, patient
16 voices provide essential data that FDA uses to achieve
17 its public health mission.

18 You know, I've had the opportunity to see
19 this impact firsthand in my own career. When I was
20 working as a consulting internist, I actually decided
21 to go into rheumatology to a great extent because of
22 my experience in rare diseases and diagnosing them. I

1 diagnosed people who were referred who had been sort
2 of wandering around in the wilderness for a long time
3 seeking a diagnosis for their rare disease. They had
4 diseases that had a lot of people's names in them like
5 Churg-Strauss Disease or Adult Still's Disease and so
6 forth. I saw people with all sorts of rare diseases
7 who had really been striving sometimes for years to
8 find out what was wrong and to seek effective
9 treatment.

10 After that, as a rheumatologist, I of course
11 saw many patients with rare diseases and it was very
12 striking how little was known about the disease and
13 how few treatments were available. I actually once
14 had an experience I tried to get Thalidomide for a
15 patient who had a very serious rare disease that was
16 not responding to available therapy, it was a young
17 patient. I was not successful in that because I
18 couldn't find, of course, a manufacturer who would
19 allow me to have an IND for a young woman with
20 Thalidomide. But the patient did not do well and I
21 always remembered the fact that there might have been
22 a therapy that could have helped her and it was out of

1 reach.

2 Since that time, of course, after I came to
3 the FDA, I have had many, many experiences with rare
4 diseases and have really worked with the community and
5 the folks inside FDA to try and improve the patient's
6 voice, bring that in, understand the natural history
7 of the disease and get better outcome measures so that
8 we really could efficiently test interventions and see
9 if they would be helpful for people. So I've had a
10 long history of working with the community and there
11 have been some really spectacular successes. But of
12 course there is such a tremendous way to go yet.

13 So just as remarkable as the specific
14 achievements that I've seen and the privilege to be a
15 part of, it's been the transformation in the way rare
16 diseases are approached in part due to the
17 extraordinary advances in the power of science and
18 technology. These developments have allowed us to
19 make enormous strides in some areas, particularly
20 genetically-based diseases, and provided enormous
21 promises in areas previously thought to be
22 unapproachable or inaccessible such as

1 neurodegenerative diseases.

2 A key aspect of this development in FDA has
3 been a focus on strengthening the acquisition, review,
4 evaluation, and application of data. At FDA, good
5 science and rigorous data will always be priorities
6 but they're particularly important in the rare disease
7 space where nearly every aspect of the work we do
8 relies on the need for strong data. That's why we're
9 working to expand the sources and types of data we
10 use, including real world data, sensor data and supply
11 chain-related data so we can better address complex
12 and challenging questions including understanding,
13 diagnosing, and treating rare diseases.

14 Moving forward, we'll continue to modernize
15 how we collect data and stay ahead of the science so
16 that we have increasing capability to take on the
17 challenges posed by rare diseases. Today's final
18 panel discussion focuses on this figure journey and
19 will offer an exploration of some of the ways we can
20 build on our current efforts to promote the
21 development of products for rare diseases and make a
22 real difference in the treatment of patients, which of

1 course, that's our mission. Thank you very much.

2 DR. FERAGLICH: Thank you so much, Dr.
3 Woodcock. Our next panel, moderated by Wendy Slavit,
4 Health Programs Coordinator of the Office of Patient
5 Affairs, will focus on rare disease patients' and
6 caregivers' experiences interacting with FDA. Wendy.

7 MS. SLAVIT: Thank you. As Lewis mentioned,
8 this panel will be about how FDA involves patients and
9 advocates in the work that we do. You heard a little
10 bit earlier about some of the ways that patients get
11 involved and you're going to hear a little bit more
12 today and then also hear from a few patients
13 themselves that have involved themselves with the work
14 that we do and they're going to be talking a little
15 bit about their experiences. So I just want to give
16 you a brief overview and then I will turn the rest of
17 the panel over to the panelists, to the patients, so
18 that they can share with you their experiences.

19 This has been mentioned several times, but
20 patients and patient voices are very important to the
21 FDA. They give us insights into the needs and
22 priorities that are important to patients and

1 caregivers. We know that not every disease or
2 condition experience is the same for everyone, so we
3 really want to hear diverse opinions and experiences.
4 We also hear from patients about risk tolerance and
5 potential benefits and patients are the ones living
6 with the diseases and have the real world experience.

7 So I know this slide has a lot of information
8 here, but I just wanted to highlight that patient
9 involvement and patient engagement at the FDA really
10 started in the 1980s with the ACT UP movement and the
11 Office of AIDS Coordination. So it's grown
12 exponentially throughout the years and we continued to
13 carry on the importance of patient engagement.

14 So who are the Patient Affairs staff? This
15 is the group that I work with. It's a small team
16 within the Office of the Commissioner. It's a fairly
17 new office. We were established in 2017 by the
18 Commissioner at the time because he wanted to find a
19 way to have all of the patients feel like they can
20 connect to all of the different parts of FDA. So it
21 was pretty -- it was a little bit all over. So we
22 wanted to make sure that it was a way for all of the

1 patients to be able to incorporate everything into
2 what we're doing.

3 We're a small group, so we want to make sure
4 that we're welcoming. We want to encourage patients
5 to really get involved. We involve patients in cross-
6 cutting programs and activities, public-private
7 corporations and partnerships and we also enhance
8 external communication platforms.

9 So this is the Patient Affairs Team. Some of
10 you may have interacted with my colleague, Susan
11 Chitteran. She leads our FDA listening session
12 initiative and I also included our contact information
13 so you can get in touch with us. And we're happy to
14 help you with whatever we can and if we don't have the
15 answer, we will find someone at the agency that does.
16 So I really encourage people to reach out to us with
17 any questions or concerns or want to know more about
18 what's going on at the Agency.

19 So Patient Affairs has a few initiatives that
20 we coordinate. One of them is the Patient Listening
21 Sessions. We have had a memorandum of understanding
22 with NORD for several years. We work closely with

1 NORD as well as the Reagan-Udall Foundation to put
2 together the Patient Listening Sessions. They inform
3 regulatory decision making, they educate review staff,
4 they help patients and their advocates understand the
5 work, they're a starting point to form early stage
6 research and development.

7 So this is one of the many ways the patients
8 can share their experiences with us. You've got a
9 chance to talk directly with FDA scientific staff and
10 it's a way for patients to, and patient organizations
11 to, quickly engage with the FDA. We had 18 Patient
12 Listening Sessions in 2021. All of them have been
13 virtual, but it's been great to be able to connect
14 with so many different patients and organizations.

15 I just also wanted to note that each of the
16 Centers also have listening sessions. The ones that
17 my office coordinates are ones that involve multiple
18 Centers, it's across the Agency whereas the listening
19 sessions in the specific Centers a lot of times will
20 focus around a specific product or specific drug, for
21 example.

22 The Patient Engagement Collaborative is

1 another initiative that Patient Affairs leads. It is
2 a partnership between FDA and the Clinical Trials
3 Transformation Initiative or CTTI. We started this
4 and modeled it after the European Medicine Agencies'
5 Patients' and Consumers' Working Party model. I know
6 earlier someone had asked whether we work with the
7 European Medicine Agency and this is actually one of
8 the examples that we have worked with them on.

9 So the Patient Engagement Collaborative is 16
10 members. We yearly switch up eight of the members, so
11 as people cycle off, new people will cycle on and it's
12 an application process. We just actually selected a
13 few new members over the summer including Julie who
14 you'll hear from in a minute. And the next time we
15 will be requesting applications will be this summer.
16 So I want to keep everyone informed and keep an eye
17 out for the next call for applications. I also wanted
18 to just emphasize that the Patient Engagement
19 Collaborative, or the PEC, discusses a wider focus, so
20 not necessarily specific medical products or diseases,
21 but really ways that patients can be more involved in
22 the work that we do, ways that we can improve our

1 communications and things along those lines that will
2 impact product development and regulatory discussions.

3 I am going to go over a few of the other
4 patient initiatives that are out of specific offices or
5 Centers. The first one is the FDA Patient
6 Representative Program. This is one of our oldest
7 programs. It started in the early 90s and it has a
8 direct input into the Agency's decision making
9 process. There are over 300 diseases and conditions
10 represented and the patient representatives
11 participate on FDA advisory committees and in review
12 division assignments. So this is also something that
13 is an application process and I just wanted to
14 emphasize that we really want the patients to remain
15 objective as a part of this because they are reviewing
16 confidential information. So there is a conflict of
17 interest screening as a result for this particular
18 group.

19 I also wanted to highlight some of CDER's, so
20 the Drug Center's, efforts in particular related to
21 Patient Focused Drug Development, or PFDD. I know, we
22 use a lot of acronyms. I just want to make sure that

1 I emphasize what they all mean. If I've missed any,
2 please let me know and I can fix that. So one of the
3 main things that we do through CDER are the PFDD
4 meetings. They also have guidance documents and grant
5 programs. They publish reports, so it's the PFDD
6 staff is very busy and the majority of their time
7 really is spent on the PFDD meetings.

8 So the PFDD meetings are designed to engage
9 patients and elicit their perspective on two main
10 topic areas: the most significant symptoms of their
11 condition and the impact of daily life and current
12 approaches to treatment. So the PFDD program started
13 with FDA-led PFDD meetings where FDA reached out and
14 in 2020 and 2021 we conducted three PFDD meetings and
15 below you can see the three that were conducted.
16 Because the PFDD meetings were so successful, they
17 branched out to do externally-led Patient Focused Drug
18 Development meetings, or ELPFDD. So those are the
19 meetings that are led by patients and patient groups.
20 And there were 30 of them between 2012 and 2022. And
21 it uses a similar model as the original Patient
22 Focused Drug Development meetings and provides patient

1 organizations the opportunity to plan and establish
2 these meetings. They get input from staff and the
3 process is fairly standardized.

4 So this is just an example of some of the
5 topics that have been covered on the externally-led
6 Patient Focused Drug Development meetings. So often
7 we get the question, what's the difference between a
8 externally-led PFDD and an FDA Patient Listening
9 Session? So first of all, the PFDD as I mentioned has
10 some staff coordinated out of the drug center whereas
11 the FDA Patient Listening Sessions involve my office,
12 Office of Patient Affairs.

13 The participants are fairly similar, all --
14 both of them have patients, caregivers, and patient
15 advocates. One of the main differences is the target
16 audience. For PFDD, regulatory agencies, federal
17 agencies, medical product developers, researchers,
18 healthcare professionals all take part in these
19 meetings whereas the patient-led listening sessions
20 are really just FDA staff from a few of the different
21 Centers and the patients. So, the topics of interest
22 are fairly similar, too, for PFDD meetings. It's

1 symptoms and daily impacts and current treatment
2 options. And like I mentioned, they're in regards to
3 a specific drug or treatment whereas the patient-led
4 listening sessions are patient experiences and needs
5 related to their health or disease and treatment
6 preferences.

7 So a little bit different of how they are
8 conducted too. For PFDD meetings, it usually involves a
9 few months of planning and they are four to six hour
10 public meetings and they can be up to 100
11 participants. Before the pandemic, a lot of them were
12 held in-person at hotels because that many people
13 wanted to attend. Whereas, at the patient-led
14 listening sessions are much smaller. They involve up
15 to eight patients or caregivers, they're nonpublic and
16 they last about an hour to an hour and a half and this
17 is a chance for just a few patients to really share
18 with FDA what's most important to them.

19 And then both of these have an output that
20 you can look at. The PFDD meetings have a "Voice of
21 the Patient" report and this is a lengthy report about
22 the findings and outcomes from the meeting whereas the

1 listening sessions usually have just a brief summary
2 that's available.

3 So as I mentioned, each of the Medical
4 Product Centers have staff that are dedicated to
5 patient engagement and patient involvement within the
6 Agency. For example, CDER, the drug Center, has the
7 Professional Affairs and Stakeholder Engagement staff, or
8 PASE, and a lot of times the specific Centers also host
9 their own meetings with patients and patient groups.

10 So I know there's a lot of information on
11 this slide, but just wanted to emphasize that we all
12 do work very collaboratively on patient involvement
13 and patient engagement, so you can reach out to
14 Patient Affairs and we can connect you with any of the
15 other Centers and what they're doing as well as things
16 that are going on across the Agency, so all of the
17 contact information is here as well as websites where
18 you can get more information on the specific
19 initiatives.

20 So I wanted to turn it over now to the
21 patients, the heart of this panel, and I am going to
22 have each of them introduce themselves. I wanted to

1 remind everyone that you can submit questions via chat
2 and thank you so much, Julie, Marc, and Aviva for
3 participating today and I want to start off, Aviva,
4 could you please introduce yourself?

5 MS. ROSENBERG: Thanks, Wendy, and thank you
6 to the FDA for inviting me here this afternoon. My
7 name is Aviva Rosenberg. I live in Pittsburg,
8 Pennsylvania with my family. I'm a healthcare attorney
9 and three years ago I cofounded the Gaucher Community
10 Alliance which is a patient advocacy organization
11 representing all types of Gaucher Disease. I am a
12 patient myself of Type I Gaucher Disease and I'm
13 raising a 15-year-old son who also is diagnosed with
14 Type I Gaucher Disease and I'm really happy to be here
15 this afternoon, so thank you.

16 MS. SLAVIT: Marc.

17 MR. YALE: Thanks, Wendy, and thanks again to
18 everybody for joining the panel today. So my name is
19 Marc Yale and I am with the International Pemphigus
20 and Pemphigoid Foundation and pemphigus and
21 pemphigoid are rare autoimmune blistering skin
22 diseases and I was diagnosed in 2007 with the variant

1 mucous membrane pemphigoid and I'm just happy to be
2 here and share my perspective today, so thank you.

3 MS. SLAVIT: Great. And I want to go ahead
4 and have Julie introduce herself.

5 MS. BRENEISER: Thank you, Wendy. I'm
6 honored to be here today. My name is Julie Breneiser
7 and I'm the Executive Director of the Gorlin Syndrome
8 Alliance. Gorlin Syndrome is a rare genetic illness
9 that affects about one in 27,000 people, including
10 myself and my two young adult children. The syndrome
11 can affect every organ system and by far for most, the
12 most burdensome manifestation are basal cell
13 carcinomas. Some of us will have over 1,000 in our
14 lifetime. I'm in that group. And some people have
15 died from metastatic basal cell carcinoma. There are
16 no FDA-approved treatments for any of our
17 manifestations and again, thank you for inviting me.
18 I'm honored to be here today.

19 MS. SLAVIT: Great. Thank you to all three
20 of you for being here. I just wanted to take a few
21 minutes and ask you some questions about your
22 engagement with FDA and what your experiences were

1 like and hopefully the audience can learn a little bit
2 more about particular programs as well as what it's
3 like to be a patient or a caregiver for an
4 organization that is working with us. So how long ago
5 did you first connect with the FDA and why did you
6 decide to become more involved at that particular
7 time? I'm going to start with Aviva.

8 MS. ROSENBERG: So we started the process for
9 a patient-led listening session pre-pandemic and the
10 goal was, so the FDA, there is approved treatments by
11 the FDA for Type I Gaucher disease which is the type
12 that myself and my son has. There are no current FDA
13 approved treatments for Type II or III or the
14 neuronopathic form of the disease which affects the
15 central nervous system.

16 So the goal was to bring families who are
17 affected by this more severe, the neuronopathic, form
18 of the disease to the FDA so that the regulators can
19 really understand what these families are going
20 through and the burden on them and the caregivers of
21 not having any approved treatments for them. So
22 unfortunately the pandemic happened and so we really,

1 our families and our community really wanted to come
2 to the FDA and to meet the people and that we thought
3 that that would be an empowering experience.

4 Although, as we soon learned, this was not
5 going to happen anytime soon, so we moved forward with
6 the virtual patient listening session in 2021 which
7 was held. In addition to that listening session, I
8 was also involved in another Patient Listening Session
9 and this is with an international coalition called the
10 International Gaucher Alliance and what we're doing
11 there is we are forming a registry, an international
12 registry of patient reported outcomes for the
13 neuronopathic form of the disease. So the focus of
14 that listening session, unlike the first one which was
15 patient experience, this listening session was really
16 to explain to the FDA the purpose of the registry, the
17 type of data that we're going to be collecting with
18 the PROs and sort of to see if this would sort of to
19 get like the "temperature check". Like, is this going
20 to be helpful in data, how could this possibly change
21 the outcome of research and looking for new drugs and
22 new treatment methods. So that was the second

1 listening session.

2 MS. SLAVIT: Thank you. Marc.

3 MR. YALE: Yeah, so I think we started kind
4 of building our relationship with the FDA I would say
5 early -- well, beginning in 2016 when we launched our
6 natural history study in conjunction with NORD. So we
7 have been looking to launch a natural history study
8 to collect data and really help us characterize the
9 disease. We didn't have any FDA-approved drugs for
10 any of our diseases, so we really felt like a natural
11 history study would help us understand the disease
12 more and be able to help us inform the FDA on really
13 what's important to patients. So that was really
14 early on in 2016.

15 And then we reached out to the FDA, I just
16 picked up the phone one day and called and said, "Hey,
17 we'd like to -- we're going to be in Washington, DC.
18 We'd like to meet with your staff," and I was a little
19 intimidated at first to be able to do that but I have
20 to say that the staff was great. I mean, they set up
21 a meeting for us, we happened to be in DC for an
22 advocacy event and so they were like yeah, come meet

1 with us. We spoke with Patient Affairs, and we set up
2 a meeting with several of the division heads,
3 dermatology department, CDER, and so on and
4 unfortunately that meeting got postponed due to a
5 weather event but we happened to be there again in the
6 following February back in I think it was 2019, so
7 2018 we set up the meeting and the in 2019 we met with
8 them and it was really a great meeting. It was our
9 first interaction with the FDA to really help inform
10 them about our organization, about the disease, about
11 the burdens that patients were experiencing and again
12 to try to help them understand what's needed from our
13 community in drug development.

14 And then in 2021, we actually held our first
15 listening session and I was part of the planning of
16 that and that was really a great experience just
17 interacting with the FDA and planning that. We had
18 five patients kind of share their experiences with
19 their disease and then now we're in the process of
20 putting together an externally-led Patient Focused
21 Drug Development meeting. So it's really just kind of
22 been a gradual building of this relationship over time

1 and we've really enjoyed working with the FDA because
2 it's allowed us to really share what's important to
3 patients and what's important when it comes to, as
4 Aviva said, patient reported outcomes and also help us
5 understand the process as far as what the FDA does and
6 how they approve drugs. So it's been a great
7 relationship.

8 MS. SLAVIT: Great. Thank you. Julie.

9 MS. BRENEISER: I've been fortunate to be
10 interacting intermittently with the FDA for a few
11 years now. We at the Gorlin Syndrome Alliance work
12 with both large pharmaceutical companies and a few
13 smaller and innovative biotech companies and one of
14 these partners, Palvella Therapeutics, suggested we
15 consider conducting an externally-led Patient Focused
16 Drug Development meeting a few years ago. So we
17 submitted our letter of intent. That was approved and
18 subsequently we were told that we needed to do a
19 listening session and so this was conducted in
20 November of 2020 and then with further support from
21 Palvella and some of our other pharma partners, we
22 resubmitted our letter of intent and did an externally-

1 led PFDD last fall.

2 Prior to these meetings, I had been invited
3 by Palvella, Pella Pharm and Leo Pharma to speak as a
4 patient and community representative at meetings they
5 had requested with the FDA to help advance their
6 respective programs. And the other way I have been
7 involved with the FDA is actually just by sending an
8 email. I was raised that it never hurts to ask. So
9 last year at one point I sent an email to Dr. Woodcock
10 and how cool was it to have her respond and we both
11 need to follow up on some things that we discussed,
12 but it's really pretty huge to be actually emailing
13 personally with her. So those were my ways of
14 involvement beyond the PEC which, Wendy, I guess we'll
15 get to in a little bit.

16 MS. SLAVIT: Okay. Thank you. Thank you so
17 much for all of your advice so far. And I just wanted
18 to know what did you do as far as -- did FDA reach out to
19 you, did you reach out to them? Some of you already
20 discussed this, how did you know who to connect with,
21 what were you hoping to gain through reaching out?
22 Aviva.

1 MS. ROSENBERG: Sure. So similar to Julie's
2 experience, our disease has many industry friends and
3 collaborators because we have different types of
4 treatments in this space. So one of the biotech
5 companies that's working on upcoming treatment, they
6 actually told us that we could do these Patient
7 Listening Sessions which was something that we were
8 not aware of and so it was thanks to them, to Aver Bio,
9 that we were able, that I reached out to the FDA and
10 then of course I did some research and learned how the
11 process works and basically there is the website is
12 great, there's a whole page of patient-led listening
13 sessions and how you can go about doing it.

14 So just sort of followed the instructions and
15 sent a letter and then got a response and we worked
16 through there. So that was really the initial, that
17 was sort of the initial discussion point and we don't
18 see, our organization doesn't see that discussion as
19 being one and done. We hope that this is a continuing
20 relationship now that the FDA knows about us, they
21 know about our families. We would like to continue
22 that discussion and hopefully have updates and looking

1 forward to becoming more involved as some of these
2 pipeline drugs work their way through and really the
3 importance to our community.

4 MS. SLAVIT: Thank you. Marc.

5 MR. YALE: Yeah, so I mean, similar to Aviva
6 and Julie, I mean, I think that there are therapies in
7 the pipeline for pemphigus and pemphigoid and so we --
8 when we met with the FDA back in 2019, we really
9 wanted to inform them about those drugs and let them
10 know that our community was really suffering,
11 particularly from the burden of corticosteroids, so we
12 really needed alternative therapies to the mainstay to
13 really help patients be able to live to their fullest
14 and have a good quality of life in their daily lives.
15 So the FDA didn't reach out to us as I mentioned
16 earlier, and I think it's important for patient groups
17 to understand the FDA is always extremely busy, so you
18 have to take that first step and reach out and I think
19 we had been doing a lot of advocacy work with NORD and
20 doing advocacy up on the Hill and like I said, I just
21 said, "Hey, I'm going to reach out to them and see if we
22 can have a meeting," and we reached out to Patient

1 Affairs and again I was just pleasantly surprised they
2 emailed me right back and said, "Hey, what do you want
3 to talk about, when are you available, who would --
4 which divisions would you like to speak with?" and they
5 set up that initial introductory meeting and we
6 prepared slides and went in and we spent about an hour
7 with the group and it was a pretty large group but I
8 have to say, I think the best part of the meeting was
9 walking away with feedback from the FDA staff saying
10 everything you're telling us is very impactful. These
11 are the things that we think you should do, next steps
12 that you should take to really be able to move drug
13 development forward in pemphigus and pemphigoid. So
14 that was really I think probably one of the best
15 aspects of that meeting is the feedback that we got
16 like hey, you need to collect more data, you need to
17 expand your natural history study. Those are the
18 types of things that will help you move the needle and
19 really help inform the FDA on what's important to
20 patients.

21 MS. SLAVIT: Thank you. Julie.

22 MS. BRENEISER: Sure. I want to follow up

1 first on something Marc just said and we've heard a
2 lot today, the term natural history study. Another
3 term for that is a registry or a survey and a lot of
4 patients don't understand what a natural history study
5 is or why it's important. And my point there is that
6 we really can't advance research in defining better
7 treatments and a cure without knowing what issues rare
8 disease patients face. So that's why the natural
9 history studies or registries, surveys are important.

10 But to answer specifically your question,
11 Wendy, initially for us it was reaching out and
12 knowing who to connect with was a challenge because
13 for an outsider, the different divisions and their
14 acronyms, as you've already pointed out, Wendy, are a
15 challenge and what each division does and who to
16 connect with and how to connect with them. But by
17 reviewing the information on the web, FDA's website on
18 listening sessions and externally-led Patient Focused
19 Drug Development meetings, you can figure it out. For
20 each of those events, we wanted to invite specific
21 people at the FDA and so finding names and email
22 addresses in the various and complex directories took

1 us hours, but it was worthwhile because we ended up f
2 or our externally-led PFDD, we had a total of 268
3 attendees and 36 were from the FDA. They weren't
4 there the whole time, but we were thrilled with our
5 turnout.

6 MS. SLAVIT: Great. We're getting some
7 questions through the chat. A few people have asked
8 how can they be a part of a listening session or
9 become a patient representative and I just want to
10 encourage you to go to our website, there is a section
11 called "Patients ask FDA" and that's like a request form
12 so you can put some information in there and fill that
13 out and then we will get in touch with you and follow
14 up about the different programs or initiatives. You
15 can also just email Patient Affairs. It's
16 patientaffairs@fda.gov and we will put you in touch
17 with whoever would make the most sense.

18 Someone asked specifically how they can
19 participate as a caregiver, a rare disease caregiver,
20 and we encourage caregivers, advocates and patients to
21 all get involved. The caregiver experience is very
22 important to us, so if you're involved with a patient

1 organization, you can reach out to us through the
2 patient organization but I also encourage individual
3 patients and caregivers to reach out to us directly
4 and we can help sort of navigate what's going on at
5 FDA.

6 One of the other questions I got was about
7 work we do outside of the United States and as I
8 mentioned previously, we work with the European
9 Medicines Agency or EMA and what I also failed to
10 mention is we also work with Health Canada. The
11 person that asked the question was specifically asking
12 about Canada. So we work with those groups to get an
13 understanding of what they're doing abroad as far as
14 different diseases and conditions as well as just
15 generally how to engage patients and best practices.
16 So we work very closely with them to try to get an
17 understanding and actually the Patient Engagement
18 Collaborative, or the PEC, recently had a meeting with
19 the equivalent group at EMA and talked about different
20 things that are priorities for patients both abroad
21 and things that are different and then things that are
22 similar. So we really do try to learn from our

1 colleagues in Europe and in Canada.

2 All three of you have touched upon a little
3 bit some of the specific initiatives that you were
4 involved with. If you can just kind of talk about
5 each of the initiatives that you were involved with
6 and what you thought of those particular programs.
7 We'll start with Aviva.

8 MS. ROSENBERG: Sure. So the first patient-
9 led listening session was sponsored by our
10 organization here in the US, the Gaucher Community
11 Alliance and we sought out the FDA, we wanted to again
12 explain what it was like living with neuronopathic
13 Gaucher Disease which in addition to affecting the
14 organs and being a lysosomal storage disorder also crosses
15 the blood brain barrier and affects the central
16 nervous system which can manifest itself in a wide
17 variety of presentations from very, very severe to
18 moderate.

19 And so as I said, there's no approved
20 treatment for this form of Gaucher Disease in the
21 United States. Although our patients are on treatment
22 it is considered off-label. So we wanted to empower

1 our families that are and explain to the FDA how not
2 having an approved treatment and the treatments that are
3 approved are not -- they don't cross the blood brain
4 barrier and really the difficulty of how it is
5 difficult to live with this condition. And so it
6 really, it worked both ways. Like, obviously the
7 biggest goal was to inform the FDA so they know to
8 please prioritize pipeline treatments, research, but
9 also it really was a very empowering exercise for our
10 families who were able to show their stories and to
11 show what it's like both for the young adults and of
12 the parent caregivers, I think it was a very
13 empowering experience.

14 The second Patient Listening Session I was
15 part of was about specifically about a registry. So
16 the International Gaucher Alliance which is based in
17 Europe but represents member or organizations all over
18 the world has -- is starting a neuronopathic Gaucher
19 patient registry. So this is not a pharma registry,
20 it's not owned by a pharmaceutical company. It is
21 going to be owned by the patient community and the
22 starting point is really the collection of patient

1 reported outcomes to look at the natural history of
2 the disease in hopes that it sheds light on disease
3 progression, possible avenues for treatment, and so as
4 part of this development of the registry which has
5 been under development for many, many years, and it's
6 just going live now, which we're really excited about,
7 we wanted to meet with the FDA and share the plans,
8 tell the FDA what's happening, what the starting point
9 is for the registry and what hopefully we want to
10 expand it to include clinical information sort of the
11 different data points. And that was really, really
12 helpful because they had both calls had between 30 and
13 45 regulators on, both listening sessions and the one
14 for the registry I think was really helpful because
15 first of all, they shared some concerns about the data
16 points we were using which is very helpful since there
17 were some times to sort of rethink the process and
18 before it actually went live and concerns both in
19 terms of the collection and also the validation and
20 then finally the sustainability of such a thing
21 without having the clinical data sometimes natural
22 history studies aren't necessarily sustainable because

1 of funding.

2 So I think it was a really nice discussion.
3 There was -- I don't want to say anything
4 groundbreaking came of it but I definitely think it
5 was really important for our team to hear some of the
6 experts that have looked at this type of data for
7 years, what they had to say, and I think that they had
8 a lot of very respectful for what we were trying to
9 accomplish as well.

10 MS. SLAVIT: Great. Thank you. Marc.

11 MR. YALE: Yeah, thanks. One of the things
12 that I want to stress just kind of listening to Aviva
13 and Julie is people might say, patient groups might
14 say well, when? When should I reach out to the FDA?
15 When is the best time to do that? I think my answer
16 is really early and often. You want to reach out to
17 the FDA as much as possible because it's really going
18 to help you kind of navigate what's -- how to interact
19 with the FDA but also to illustrate to them what's
20 clinically important to your patients and your patient
21 community. So I can't really emphasize that enough.
22 So after that initial meeting, like I said, we had

1 that first listening session and we had five patients
2 from different subsets of our disease, pemphigus
3 vulgaris, pemphigus foliaceus, bullous pemphigoid,
4 mucous membrane pemphigoid, so we really wanted to try
5 to be representative of all of the types of diseases
6 that we cover within our organization and we worked
7 with the FDA staff to kind of prepare that. But I
8 think kind of on the lines of what Aviva was saying is
9 I think what it helped us kind of illustrate to the
10 FDA is that there isn't a -- especially when it comes
11 to rare diseases, there isn't like a "one size fits all"
12 approach to rare diseases and every rare disease is
13 different. And so it's important that when I said
14 earlier that we reach out early and often, we have to
15 -- we want to be able to have that information passed
16 amongst all the Centers. So there needs to be cross
17 learning amongst all the centers so that information
18 is passed along and the communication stream works
19 well. Because in the end, there is really
20 individualized outcomes for each disease and as
21 patient groups we need to make sure that we're
22 informing the FDA of that.

1 So that was great and then of course now as I
2 mentioned we're working on this externally-led PFDD
3 meeting, we're currently having monthly meetings with
4 Patient Affairs to try to get everything together and
5 we're developing our agenda and things like that. So
6 I mean, again, it's just having that opportunity to
7 meet with Patient Affairs and say we have questions
8 about this or how do we approach this aspect of the
9 meeting has been really helpful in the process.

10 MS. SLAVIT: Great. Julie.

11 MS. BRENEISER: Backing up a little bit,
12 after we had been -- after Palvella had recommended to
13 us that we do a PFDD, I really got thinking about why
14 and it comes back to being a rare disease. As with
15 all of -- as Marc's and Aviva's diseases, it's not a
16 reasonable expectation for the FDA or for
17 practitioners, healthcare providers to know about our
18 diseases, our unmet needs and our burdens and so we
19 went ahead with our plans in order to educate them, to
20 show them what goes on behind closed doors where it's
21 not necessarily a pretty and easy time for patients
22 and families.

1 And by reaching out and doing our PFDD and
2 our first our listening session, we wanted to teach
3 the FDA about what it's like across the age spectrum
4 and in doing so, I mean, the whole purpose ultimately
5 down the road is to smooth the pathway for drug and
6 product approval for better treatments and ultimately
7 a cure.

8 We all want this to be faster, we all want it
9 to be smoother and we really want it yesterday. But
10 we also want to help the FDA understand our
11 willingness to accept a certain level of risk and how
12 much that risk would impact, positively impact our
13 lives. So as is already been said, we've done a PFDD,
14 we did a listening session and we feel like they were
15 very successful. But, again, there is an urgency to
16 it. I mean, for us to delay, we were put off almost a
17 year by the FDA. First we had to do the listening
18 session then the PFDD and a year for me means about --
19 the development of about 20 basal cell carcinomas.

20 I don't know what the year looked like for
21 Marc or Aviva, but a delay is impactful. And so now
22 we wait and hope that our listening session and PFDD

1 will have a positive impact on the FDA's review of
2 different products that they see or different
3 treatments and we feel confident that the subjective
4 and objective information that we presented should
5 make an impact and we can't wait to see some positive
6 follow up from them.

7 MS. SLAVIT: And, Julie, I know fairly new
8 to the Patient Engagement Collaborative or PEC. If
9 you could just talk a little bit about what your
10 experiences were like with the initial application,
11 the interview process, and the -- we've only had a few
12 meetings so far but if you could just talk a little
13 bit about that? Because we get a lot of questions
14 about the PEC and I want to be in the PEC and what
15 should I do. So --

16 MS. BRENEISER: Sure. I heard about it and
17 went ahead and filled out the application. It's
18 somewhat extensive. It requires a recommendation from
19 either someone, a board member or someone else who
20 knows you well and knows of your advocacy experience.
21 And there have only been two meetings since I joined,
22 so I can't really say too much about them but I really

1 hope that we continue to focus on the charge of the
2 PEC which as it says on the FDA website, is to help
3 achieve more meaningful patient engagement in medical
4 product development and other regulatory discussions
5 at the FDA. For me, it's really thrilling to be a
6 part of that.

7 MS. SLAVIT: Yeah, I wanted to emphasize that
8 Julie is part of the second cohort, but the first
9 group of PEC members helped us figure out why we
10 needed a better website, what kind of information
11 would be better to put on it, so we made a lot of
12 changes to our website as a result of getting input
13 from PEC members. Communication is very important.
14 So PEC has been able to help us. Certain things that
15 I felt like as a health educator should be up front
16 were actually patients were like, no, that's not that
17 important. We should put that lower down the page.
18 So we spent some time with patients testing the
19 website and trying to get that more in order.

20 One of the other communications initiatives
21 that we have is we have these "Patients Matter" videos
22 which focus on topics that are important to patients

1 and we talk to different patients to see what they
2 would like us to focus in on. We did one on natural
3 history studies and the importance of natural history
4 studies and we had several patients talk about their
5 experiences.

6 So I just wanted to let everyone know that
7 those are resources that are available and you can
8 take a look at our website and learn more about what
9 we're doing. I just wanted to look and see if there
10 was a question that came in. So a few people asked
11 sort of how they can next engage.

12 I understand different organizations have
13 different levels of experience interacting with us.
14 There are some that have never interacted with us,
15 there are some that have had been involved in multiple
16 initiatives or programs. I, like Marc said, I
17 encourage you to reach out early and often. Patient
18 Affairs, we're here for you. We want to help you make
19 good decisions about where to go to next as far as the
20 work that you hope to achieve when working with us.
21 So I encourage people to go ahead and do that.

22 I also wanted to emphasize that you can take

1 a look at our website. You can look at summaries of
2 other Patient Listening Sessions to see what those are
3 like and what people's experiences were. I also
4 wanted to kind of highlight some of the more informal
5 ways to get involved. As Lewis mentioned, we have a
6 docket that's related to Rare Disease Day and so you
7 can go to the docket and make comments on the docket.
8 You can go ahead and just informally email me or
9 anyone else on my team and we're happy to help in any
10 way that we can.

11 So it's not always the super-formal forms of
12 engagement but just sometimes some of the smaller
13 quick ways to engage with us that we want to encourage
14 people to take advantage of, too. And as I mentioned,
15 the "Patients Ask FDA" form on the website can help kind
16 of guide you in how you want to get involved.

17 So we have a few more minutes, but I wanted
18 to discuss with you what your experiences have been
19 like and what would you like to share with other rare
20 disease patients? We have a lot of people attending
21 today's meeting, like I said, with varying levels of
22 understanding and involvement on the work that we do.

1 One of our initiatives has actually been to clarify a
2 little bit better what FDA does. It's a lot of
3 confusion around that. So what would you like to
4 share with other patients that are watching today?
5 I'll start with Aviva.

6 MS. ROSENBERG: Sure. I think that it was,
7 both of my experiences have been very positive and it
8 wasn't overwhelming. I don't think my patients and
9 their family members felt overwhelmed. I think they
10 felt very welcomed. The questions that they got were
11 appropriate. And so I think that it was a very
12 positive experience all around and so I would
13 encourage patient organizations of rare diseases to
14 reach out.

15 The one thing that I would caution and I, we
16 learned early on, is that there is sort of a cottage
17 industry of consultants, not government related, that
18 have sprung up around this Patient Listening Session
19 and the consultants offer a variety of services to
20 prepare you for your listening session. And a few
21 organization has if you have funding and you have deep
22 pockets and by all means, I think these consultants

1 will certainly make your lives easier. That was not
2 something that we had funding for and I want to
3 explain that it is not necessary. So this is not to
4 put down the consultants, they do a great job and I'm
5 sure they've organized a very, very excellent
6 listening session, but the finances should not be a
7 barrier. We did both of our listening sessions
8 without a consultant. The directions are very clear,
9 the FDA will work with you to explain anything that
10 you don't understand. So I think if you are starting
11 this process and you find a consultant reaching out to
12 you that they want to make your lives easier, if you
13 have that type of resource, they will probably make
14 your life easier. But it should not be a barrier.

15 MS. SLAVIT: Thank you. Marc.

16 MR. YALE: Yeah, I mean, again, I was
17 intimidated. I was a little scared to have those
18 initial meetings with the FDA because I guess more
19 than anything I didn't know what to expect but I
20 remember I was sitting in the basement of the Senate
21 building like working on my speech like what I was
22 going to say to the people of the FDA when I met them

1 and got in the room and everybody was just so friendly
2 and nice and they're just like the rest of us. So I
3 think the big thing is, the FDA is there to listen, so
4 it's important as advocates and I know everybody on
5 this call, all of the rare disease advocates are we're
6 here because we want to share our stories. We want
7 you to hear about these diseases and how we're living
8 with these diseases and as Julie said, every day we
9 don't have a therapy it's a delay and it causes
10 significant impact on all of our lives. So really
11 don't be afraid to share, speak up, speak out, and I
12 would say the other thing is the FDA is a very data-
13 driven entity. So the more data that you have, the
14 more data you can collect on your disease, whether it
15 be through a registry as Julie said or a natural
16 history study or collaborating with other
17 organizations to collect data, I think it's important
18 and that it really will help illustrate the need and
19 what's needed and help validate the outcomes that the
20 FDA is looking for. So the data is important. Don't
21 forget that piece. I think it's important.

22 MS. SLAVIT: Julie.

1 MS. BRENEISER: Sure. Following up on what
2 both Aviva and Mark said, we went the other way from
3 Aviva's organization and we used a consultant. We
4 were very fortunate to have pharmaceutical funding or
5 partner funding and it did make our life a lot easier
6 and the advice they gave was very strong advice. So
7 both work and I just want to say -- give that other
8 side of the spectrum. And following up also, don't be
9 afraid to send emails.

10 Don't be afraid to ask, to push for answers.
11 As Wendy said in our -- Wendy who is our moderator
12 here said in one of her prep calls, we at the FDA are
13 civil servants here for patients. We are here for
14 you. So don't be afraid to ask. Don't be afraid to
15 push for answers. And let the FDA know what you hope
16 for, what you expect. Give them a call to action.
17 Give them a job. Make them know what you expect and
18 what you hope for. You represent the people, your
19 people in need or you are a person in need and the FDA
20 is there among other things, it's as it says in their
21 mission, to advance public health. So let them know
22 how they can help to advance your health.

1 MS. SLAVIT: And we got a few questions and
2 comments that came in and one of them was my patient
3 organization doesn't know very much about FDA. How
4 can we find out more? Well, actually, even though
5 we're a small group, Patient Affairs, we do give
6 presentations at organization meetings, patient
7 organization meetings. We want to introduce
8 ourselves. We want you to feel comfortable
9 approaching us. So that's something you can also
10 request that we speak at one of your meetings and talk
11 about a lot of the things that I previously gave a
12 presentation about, what the different choices are and
13 what some of the initiatives, know what's involved
14 with them.

15 Someone else also asked how do we keep track
16 of all of our inquiries that are coming in on
17 different topics? So the "Patients Ask FDA" web form is
18 a way that we get information. Patient Affairs also
19 has our own email address, so people email us directly
20 and a lot of what we do at Patient Affairs is if
21 something that we know that one of the other Centers
22 can better answer, we will pass on your email or your

1 question to CDER or for example if you want to know
2 more about Patient Focused Drug Development, we can
3 pass your email off to the Patient Focused Drug
4 Development team and they can answer a lot more
5 specific questions. The initiatives that are coming
6 out of Patient Affairs like the PEC and the listening
7 sessions that are cross-Center, we're happy to talk to
8 you about those but we want to make sure that if it's
9 something that you need more details for that we're
10 able to help. Robin Bent actually suggested that one
11 of the benefits of the externally-led Patient Focused
12 Drug Development programs, specific groups are
13 assigned an Agency contact who helps and works with
14 the groups that are planning the meeting and they
15 handle publicizing the meeting within FDA. So she
16 agrees that you don't necessarily need a consultant,
17 you don't need to have large amount of funds to be
18 able to do a Patient Focused Drug Development meeting,
19 and so I just wanted to emphasize that we are here to
20 help in any way that we can with any of your
21 engagement activities. So we have I guess about three
22 or four more minutes, not very much time left. But I

1 just wanted to see whether Aviva, Marc and Julie,
2 whether you had any kind of ending comments or remarks
3 that you wanted to make. I'll start with Aviva.

4 MS. ROSENBERG: Thank you for having me and I
5 would say to the rare disease patient advocacy groups
6 that don't discount the impact that having a listening
7 session will have on your patient communities because
8 a lot of times these are people that are -- that have
9 nobody -- nobody will listen to them. They've gone
10 through years of diagnostic journeys and doctors won't
11 listen to them and so the idea of sitting at a table,
12 or a virtual table, with government people whose sole
13 reason are there to listen to them is really
14 empowering. And so while I would love to have a
15 treatment yesterday, there is a second there that we
16 found a secondary purpose and it was a very easy
17 process. I encourage it and I think it was -- we look
18 forward to having more meetings like that.

19 MS. SLAVIT: Great. Marc.

20 MR. YALE: Yeah, thanks again, Wendy, for
21 having me and just to kind of build on what Aviva was
22 saying, you know, these meetings can really help long-

1 term in the drug development in your space, in your
2 diseases. So having the opportunity to provide
3 patient perspective and being allowed to have this
4 Patient Focused Drug Development opportunities are
5 huge because there may not be, as Aviva said, a
6 therapy that's FDA approved today but it'll speed up
7 the process. So as I said, we didn't have an FDA-
8 approved drug before 2019 and then we finally after
9 continuing to work and work and work, we finally got
10 an FDA approved drug in 2019. But just engage. Go to
11 -- attend these types of meetings, go to Rare Disease
12 Day at FDA in person if you can, if that happens
13 again.

14 But just take every opportunity that you have
15 to engage with the FDA. I mean, I remember listening
16 to Dr. Woodcock speak at a NORD Summit Conference
17 several years ago about building natural history
18 studies and how important that was and that was
19 inspiring. I've left going hey, we need to do this
20 and we can do this, but you just have to build it a
21 little bit at a time. So be patient and just work at
22 it. Be persistent.

1 MS. SLAVIT: Sounds good. And Julie, do you
2 have any last minute comments you'd like to say?

3 MS. BRENEISER: Sure. The only other thing
4 to add to what Marc and Aviva have brought up very
5 nicely is that listening sessions and particularly
6 externally-led Patient Focused Drug Development meetings
7 take time to organize and put together. Don't think
8 that you can do it -- don't think that you can plan
9 one, particularly a PFDD, three months from now. You
10 need to give yourself a good chunk of time to get
11 ready and I don't really have anything else to add.

12 MS. SLAVIT: Okay. Well, thank you so much,
13 Aviva, Marc, and Julie. This has been a really
14 interesting conversation and I hope the audience
15 learned a little bit more from your experiences and
16 thank you again for speaking with us today.

17 MR. YALE: Thank you.

18 MS. BRENEISER: Thank you for having me.

19 MS. ROSENBERG: Thank you.

20 DR. FERMGALICH: Thank you all. We'll now

21 take a ten minute break. During the break, please consider "sticking
22 around" to enjoy a slideshow of artwork from the "Beyond the Diagnosis"
exhibit with powerful and beautiful paintings of patients with rare
diseases. Please re-join us after 10 minutes for our next panel.

22 (BREAK)

1 DR. FERAGLICH: Welcome back to FDA Rare Disease Day
2022. For our final panel of the day, we get to hear from each FDA Center
about exciting and innovative

2 initiatives aimed at improving drug development for
3 rare diseases. This panel will be moderated by Dr.
4 Sandy Retzky, the Director of the Office of Orphan
5 Products Development. Dr. Retzky.

6 DR. RETZKY: Hello, everyone. Welcome back.
7 This is panel five and it is called "Our Future
8 Journey". What we want to do here is spotlight some of
9 our initiatives from each Center that we're working on
10 to help promote and enhance product development for
11 rare diseases. So our first speaker today is Dr.
12 Michelle Campbell. She is from the Center of Drug
13 Evaluation and Research and she will be talking about
14 the Rare Disease Accelerator. Michelle.

15 DR. CAMPBELL: Thank you, Sandy, and good
16 afternoon to everyone. We still have a great crew out there
17 who is hanging in there as we continue our discussion
18 about rare disease and how FDA looks at our rare
19 diseases and supports rare disease drug development
20 and engagement from our patient community. As Sandy
21 said, my name is Michelle Campbell. I am from the
22 Office of Neuroscience and the Center for Drugs and I

1 wanted to talk to you guys today regarding when we
2 think about our rare disease lifecycle considerations
3 and for some of you folks, you may have seen some of
4 these slides before.

5 But we know that when we think about medical
6 product development, it is a lifecycle, it is a spectrum. And
7 there are different aspects of that spectrum depending
8 on what phase you're in of where we know we have
9 challenges for our rare disease medical product
10 development and what you see here is in our very
11 beginning, our translational phase and often this is
12 when we discuss the lack of natural history or disease
13 characterization in understanding the progression or
14 how the disease manifests through different patients.
15 Often this is where we see the heterogeneity and the
16 symptoms that our patients can live with and
17 experience on a daily message.

18 We knew that some of our challenges is that
19 with our small patient sample sizes can we difficult
20 to really be able to do advanced studies and the need
21 at knowledge development with those small samples. We
22 know that our available testing for diagnostics

1 perhaps is often maybe developed at individual
2 academic medical centers and may have uncertainties
3 whether it comes to reliability and standardization
4 across the board for the utility of a much broader
5 population. And we know that work can be done
6 sometimes in silos which of course we do not want to
7 encourage, but we do know it happens. And so those
8 are often some of our challenges that we face.

9 So then when we move into thinking about
10 clinical developments, we think about when we may have
11 a potential therapy option, what is -- how do those
12 translational challenges then still continue on and it
13 can be from still not clearly understanding the
14 disease enough or the mechanism of action. We have
15 unique challenges and the appropriate endpoint
16 selection to support efficacy and we know that some of
17 our trials, trial design and what is the appropriate
18 way to design our clinical trials and rare disease can
19 often represent a lot of challenges. And we need to
20 be thinking about how can we maximize our patient
21 population when we are conducting our trials.

22 We also know from the various patient

1 listening and patient engagement opportunities that
2 was highlighted in the last session about engaging
3 with the agency early and we learn a lot from these
4 listening sessions, PFDD meetings, all the various
5 topics that were discussed in the last session and we
6 do continue to learn from them and encourage that if
7 someone would like to reach out to the Agency to use
8 the Office of Patient Affairs or the Center-specific
9 patient engagement staff to start that dialogue.

10 But what could be another way for us to not
11 only learning from our patients, but what is another
12 option for us to really think about how can we help
13 advance rare disease drug developments? And so I want
14 to focus a second and talk about data sharing and what
15 can data sharing offer to us? We know that one of our
16 challenges is our limited sample size and that we may
17 have small trials of various sizes for a condition,
18 but what would happen if you would be able to pool all
19 of that data together and to better learn about the
20 patients have experienced through the data and look at
21 that as we also hear from them verbally from that
22 experience?

1 Data sharing offers that opportunity to
2 potentially develop clinical trial simulations so we
3 can learn better about how disease may progress. We
4 could optimize our clinical trials with what the right
5 population may be or if stratification is needed, so
6 in pooling our data together into a shared system, we
7 allow to increase the power of productivity
8 potentially of a population to help us think about
9 what may need to be done in a drug development
10 program.

11 Data sharing we know can reinvigorate drug
12 development when we pool resources together and we can
13 do this outside of an individual drug development
14 program and really work together we can
15 collaboratively with all stakeholders continue to
16 advance the science of understanding a rare disease
17 and what may be appropriate to pursue for a medical
18 product development program. We know that our larger
19 datasets can reflect the broader patient population by
20 pulling together and that can enhance our trial design
21 and patient selection and as well as inform us on
22 appropriate endpoint selections or where maybe there

1 are additional gaps that we need to focus in on to be
2 able to optimize what is currently available to help
3 support clinical trial endpoint. So data sharing is
4 one opportunity that can really help us advance rare
5 disease drug development.

6 Many of you have heard, we've been talking
7 about this for a few years now, but CDER has funded
8 the Rare Disease Cures Accelerator Data and Analytics
9 Platform and this is something that we have funded the
10 Critical Path Institute who is working with and
11 collaborating with NORD regarding this. And the idea
12 is to promote data sharing and data collection across
13 rare diseases to help accelerate and understand
14 disease progression and to optimize our clinical trial
15 designs. And really the idea is for this to be an
16 essential infrastructure for where all data as a
17 repository can come in and be used.

18 We know that our stakeholders need to be
19 engaged and that we need to work with all stakeholders
20 and so that's our patient groups, that's industry,
21 that's academia to be able to bring all other data
22 together.

1 The final slide you see that is currently on
2 your screen is a schematic of how we think data will
3 flow. The left side lists the different types of data
4 that can be brought into this platform. This platform
5 is up and running and we currently have 74 datasets
6 for 18 different diseases and disorders. While I know
7 that may seem small, it's a starting place for us to
8 help advance the science and help us be able to inform
9 and make regulatory decisions with this.

10 FDA is also an important stakeholder in this
11 effort because we hope that this information not only
12 will be able to help our external stakeholders but
13 also our internal stakeholders be able to understand
14 disease progression themselves from their everyday
15 jobs when we're reviewing applications that are coming
16 in.

17 So I am going to thank you guys for listening
18 briefly about this effort. I look forward to
19 questions and I turn it back to you, Sandy.

20 DR. RETZKY: Thanks so much, Michelle. That
21 was really terrific. I am going to just remind
22 everyone if you want to send in a question, please do

1 so in the chat. It's in the bottom of your screen.
2 There's like a bubble and you hit that icon and it
3 will open up a chat and you can send us a question.
4 We'd love to hear your questions. So I am next going
5 to introduce our next speaker and it's Dr. Celia
6 Witten. She's the Deputy Director of the Center for
7 Biologic Evaluation and Research. Dr. Witten.

8 DR. WITTEN: Good afternoon, everyone. Thank
9 you for inviting me to serve on this panel and I
10 really appreciate the opportunity to talk and
11 especially to follow Michelle's excellent talk because
12 there are some specific relations, I think the theme of
13 the day is collaboration and data sharing and I'm
14 going to give an additional different spin on some of
15 the needs for data sharing collaboration.

16 So I'm going to talk about two things. One
17 is the need for collaboration in developing therapies
18 for rare diseases and then I'm going to talk about a
19 specific effort on the part of that CBER is
20 participating in for a collaboration related to gene
21 therapy.

22 So I want to talk a little bit about the role

1 of FDA in what could be called the product development
2 ecosystem, meaning the constellation of organizations
3 and individuals whose collective work results in
4 bringing products to market. I think people already
5 know this, but I just would like to make this point
6 that our role is to ensure that medical products are
7 safe and they meet a legal standard of efficacy. But
8 I think for anyone who has been involved with FDA and
9 product development will realize that we get involved
10 very early in the process of product development from
11 the concept through first market surveillance because
12 I think we have a critical vantage point in terms of
13 seeing what's needed or what some of the roadblocks
14 are in ways that are just unique to our role as
15 regulators.

16 But there are many other stakeholders in the
17 product development ecosystem: patients and families,
18 advocacy organizations, researchers, physicians,
19 pharmaceutical and biotechnology companies and trade
20 organizations and as many of you know, interactions of
21 these stakeholders may come much earlier in product
22 development than the initial clinical trials. There

1 could be discussions of identification of targets for
2 therapy, strategies for manufacturing and other topics
3 can be part of these early discussions. And as I
4 think you already heard from Michelle's talk, but the
5 need for collaboration and data sharing is essential. I
6 think especially for rare diseases, efficient drug
7 discovery and development is in part a team sport and
8 efforts bring all stakeholders to the table may be
9 essential in development for rare diseases.

10 So recognizing this need for collaboration
11 on the challenges of development for especially very
12 small diseases, CBER held a workshop in early 2020 on
13 the topic of developing individualized therapies,
14 meaning therapies for very small numbers of patients
15 and as part of an outgrowth of that came our
16 participation and vision for our participation in the
17 Bespoke Gene Therapy Consortium which I am going to
18 talk about.

19 So one thing I just want to mention and I
20 think it's obvious to everybody that the challenges of
21 developing therapies for rare disorders can -- are the
22 same as the challenges for any development of a

1 therapeutic article and those include manufacturing
2 nonclinical development, clinical development and
3 product access, but I think to a greater degree that may
4 be commonly recognized, some of the challenges such as
5 manufacturing may need more attention than some of the
6 challenges like clinical development that tends to get
7 a lot of attention in meetings like this one, as it
8 should, but it's not the only challenge that we face.

9 So in gene therapy, a lot of times there is -
10 - it's possible to manufacture vectors for the 100 to 10,000
11 patient treatment range but it may be not viable
12 because of the cost to develop much smaller product
13 lots and it may not be possible because of the
14 manufacturing technologies to manufacture more larger
15 -- enough to treat larger numbers of patients. And
16 one of the thoughts that that led to for us at CBER
17 was the fact that perhaps for gene therapy, developing
18 better manufacturing processes might help improve the
19 ability for products to be available to treat patients
20 at both of the other ends of the spectrum, both a very
21 small patient numbers as well as potentially larger
22 patient numbers for other kinds of products.

1 So I mentioned, I listed in a previous slide,
2 the four basic baskets for challenge areas for product
3 development and I mentioned that we in part think
4 manufacturing for some of the gene therapies is a
5 potentially rate limiting step. And so I just want to
6 show this slide. This is one of the gene therapies
7 that approved in the last couple of years and it was
8 approved based on a very small number of patients
9 because the result seen was just so overwhelmingly
10 positive that it was possible to approve it based on
11 this small number of patients.

12 And I'm just making the point that it's
13 important to know natural history and it's important
14 for gene therapy also to know natural history, very
15 important. But it is also sometimes not the only
16 thing that we need to focus on in terms of getting
17 products available.

18 So this is my last slide and this is about
19 the collaboration that we're participant in. It's
20 called the Bespoke Gene Therapy Consortium. So one of
21 the gene therapy vectors, AAV vectors, which are very
22 promising for a number of rare diseases is an area

1 where improved manufacturing and improved availability of
2 the vectors might help to speed the product
3 development along. And of course there are other
4 areas that are important, too, in developing these for
5 clinical use including preclinical testing and
6 clinical testing.

7 But this consortium, what is planned and it's
8 a consortium between NIH, FDA, a number of companies
9 and organizations and they're going to -- the goal is
10 -- under the nonprofit organization being managed by
11 the Foundation for NIH, and the goal is to take a
12 couple of gene therapies through the process from idea
13 through clinical study and treatment for patients and
14 try to learn collectively from it. So instead of
15 having four studies, four products developed in silos
16 where each individual entity or group is developing
17 their therapy and their treatment to have a collective
18 discussion about what some of the roadblocks have been
19 in manufacturing and testing and preclinical testing
20 so that we can have a better idea as a community what
21 works and what doesn't work and I think this is really
22 the importance of this kind of data sharing of what's

1 a successful development program can't be overstated.
2 So we're hoping that that will be a result from this
3 Bespoke Gene Therapy Consortium, a recognition of --
4 recognizing that as an important value to perhaps
5 serve as a model for efforts like this in the future.
6 Thank you very much. I'll turn it back over to you,
7 Sandy.

8 DR. RETZKY: Thank you so much, Dr. Witten.
9 The Bespoke Gene Therapy Consortium sounds so
10 interesting and promising. It's really great. I'm
11 going to turn it now to our next speaker who will talk
12 to us about real world data and the development of
13 drugs for rare cancers, Dr. Donna Rivera. Donna.

14 DR. RIVERA: Thank you, Sandy. And good
15 afternoon, everyone. Thank you to the organizers for
16 the opportunity to share work on behalf of the Office
17 of Oncologic Diseases and the Oncology Center of
18 Excellence to advance the use of real world data in
19 drug development for rare cancers. I am Donna Rivera,
20 the Associate Director for Pharmacoepidemiology in the
21 OCE and as mentioned by various FDA leaders throughout
22 the day, there are collaborative efforts across the

1 agency where we are dedicated to finding ways to meet
2 important challenges associated with rare disease drug
3 development while keeping patients central to the
4 process and our mission and I am going to share just a
5 handful of these efforts going on in oncology.

6 We'll start out by talking a little bit more
7 about real world data and defining a few key terms.
8 Real world data is data relating to patient health
9 status and/or the delivery of healthcare routinely
10 collected from a variety of sources and real world
11 evidence is a clinical evidence about the usage and
12 potential benefits or risks of a medical product
13 derived from analysis of real world data.

14 Real world data can come from various sources
15 including EHR data, claims data, registry data, and
16 patient-generated data and can be comprised of various
17 data types such as pharmacy data, genomic data,
18 patient reported outcomes and social determinates of
19 health. At present, there is an increasing amount of
20 real world data and the goal, the objective is to find
21 ways to harness and utilize this data and generate
22 high-quality, real world evidence.

1 The Oncology Center of Excellence established
2 the Oncology Real World Evidence Program in December
3 of 2020 and the goal is to collaboratively advance
4 appropriate use of real world evidence in oncology
5 product development to facilitate patient-centered
6 regulatory decision making and our strategic
7 priorities are to optimize knowledge building through
8 centralized real world data research that ensures
9 study efficiency, transparency, and diversity to
10 advance the scientific development of resources,
11 regulatory policy and guidance on appropriate use of
12 oncology real world data informed by methodological
13 research and collaborations to collaborate through
14 strategic partnerships that foster pragmatic and
15 appropriate use of real world data across FDA, federal
16 agencies, and through public-private partnerships and
17 finally to accelerate the field of oncology real world
18 evidence through leadership and training and rigorous
19 evaluation, methods development, and regulatory
20 science.

21 We hope to accomplish this across four key
22 focus areas of regulatory review, regulatory policy,

1 regulatory science research and collaboration and
2 education and engagement. In each of these areas, we
3 have ongoing work to support rare cancer drug
4 development.

5 Currently our program goals include fostering
6 consistent terminology through a real world data
7 glossary, developing use case to enhance data at the
8 source through collaboration such as M-code and ASH
9 Collaborative to characterize data quality through
10 development of an oncology QCARD and developing real
11 world endpoints such as real world response through
12 collaborations of friends of cancer research.

13 For rare cancers, better understanding real
14 world data quality and also the capability for
15 evaluation of meaningful endpoints are ways to
16 potentially create advances.

17 From a drug development perspective, the use
18 of real world data in regulatory submissions is
19 increasing. When we think about appropriate potential
20 uses of real world data, there should be a clear
21 rationale where trials are infeasible or impractical,
22 unethical or there is a lack of equipoise and there

1 is a clear rationale for lack of randomization. The
2 use of real world data or evidence generation outside
3 the gold standard of randomized controlled trials may
4 be relevant to rare diseases and in pediatrics and
5 specifically pediatric oncology as well as in areas of
6 significant unmet medical need which is what we are
7 talking about today.

8 So there is a need for innovative approaches
9 to evidence generation and trial modernization which
10 may be appropriate. For example, the use of external
11 control arms is often discussed in this context and
12 there is a clinical challenge that currently exists in
13 interpreting time to event endpoints in single arm
14 trials. One potential solution that has emerged is
15 the use of well-constructed externally controlled
16 designs. However, primary methodological concerns
17 still remain in the ability to balance prognostic
18 factors and account for confounding which could
19 influence the evaluation of treatment benefit in the
20 absence of randomization.

21 So real world data has a potential to be
22 useful when done carefully and also may be useful in

1 understanding drug effects among underrepresented
2 populations to advance health equity and in molecular
3 subgroups.

4 I'd briefly like to mention that the Oncology
5 Center of Excellence has several efforts aimed at
6 advancing real world data for rare cancers and
7 includes engagement across the Agency. A new program
8 to advance drug development for rare cancers was just
9 formed in OCE and is led by Dr. Martha Donoghue. The
10 FDA Oncology Team discussed earlier today the example
11 of selumetinib among others and gave a perspective on
12 this development in OOD and OCE. And just two months
13 into this year, the FDA has approved four new drugs
14 for patients with rare diseases in the areas of
15 hematology and oncology.

16 Furthermore, in 2021, the Office of Oncologic
17 Diseases approved over 35 new or supplemental
18 applications to treat patients with rare cancers. So
19 touching on each of our focus areas and the area of
20 engagement, we have worked with several patient
21 advocacy groups through OCE Project Community and have
22 participated in rare cancer forums and meetings

1 discussing external control designs, registries, and
2 common control arms to create robust discussions on
3 ways to advance the field.

4 In the research collaborations space, there
5 is a collaboration through the CURE Drug Repurposing
6 Collaboratory convened by the Critical Path Institute
7 in collaboration with FDA and NCATS to validate real
8 world data to advance drug repurposing for diseases
9 with the highest levels of unmet medical need. OCE is
10 specifically collaborating on methods to develop a
11 rare disease app and case report forum similar to the
12 initial app in infectious diseases that is currently
13 available for repurposed drugs to hopefully lead to
14 new discoveries.

15 In the regulatory aspect, I just mentioned
16 our recent drug approvals and the most recent peds
17 ODAC focused on how real world data and patient
18 reported outcomes might advance drug development for
19 pediatric oncology and briefly mentioning policy as
20 well, there are several guidances available that have
21 been recently released including guidances on real
22 world evidence and other methods that can help propel

1 development in rare cancers.

2 And, finally, I'll conclude by mentioning a
3 recent publication around the use of external control
4 data as well as the public-private partnership with
5 Project Datasphere to advance efforts on data sharing
6 that includes exploration of external control
7 methodologies.

8 So with that, I would just like to quickly
9 acknowledge appreciation for all of the OCE RWE team,
10 especially Dr. Paul Kluetz for his leadership in
11 building this program and Team FoRWD, our multi-
12 disciplinary team with diverse expertise which
13 includes rare cancer experts. I would like to
14 acknowledge my colleagues and thank you all for your
15 attention.

16 DR. RETZKY: Thanks so much, Donna. That was
17 really terrific. Just as a reminder, please, if you
18 have any questions, put them in the chat bubble that's
19 at the bottom of your screen. I'm going to introduce
20 our next speaker, it's Sara Brenner who is from the
21 Center for Devices and Radiologic Health and Sara will
22 be talking about health technology in rare diseases.

1 Sara.

2 MS. BRENNER: Fantastic. Thank you so much.

3 And thank you for the invitation to join the panel

4 today. This will be a little bit of a switch in

5 focus. As was mentioned, I'm from the Devices Center

6 and specifically the Office for In Vitro Diagnostics.

7 So we're going to talk through a little bit about how

8 the device center approaches health technology, data,

9 rare diseases, and I'll give some very specific

10 examples of how in vitro diagnostics are used in that

11 context.

12 So I believe a previous speaker earlier on

13 today from my Center has already covered collaborative

14 communities but I wanted to highlight this and I'll

15 highlight a few different aspects of what goes on in

16 CDRH outside of our office and across the other

17 offices as well as across the Center to address some

18 of the needs of this community and this stakeholder

19 group and the focus on rare diseases. So as was

20 previously mentioned and again with some of the

21 speakers in this panel, there are a lot of different

22 mechanisms that we have at CDRH to engage with

1 different stakeholders and get feedback and input from
2 industry, from public and private entities including
3 academia from the general public and from patients.
4 So for more information on how we do that specifically
5 at CDRH, you can check out our website. I'm happy to
6 take questions afterwards as well.

7 I just wanted to give a few examples and
8 these are again going to be different than the types
9 of engagements that you see from other Centers at FDA,
10 but those have focused on a variety of different
11 applications with regards to devices, so you see
12 imaging, ophthalmologic imaging. We have NESTcc
13 which is a collaborative community for health
14 technology coordination, laboratory practices and
15 pharmacogenomics, liquid biopsy standardization
16 alliance, we have quite a bit of activity going on in
17 AI and ML and no doubt it was mentioned, I'll mention that
18 again as we move on through some different examples,
19 but with regards to device data and particularly
20 diagnostic data, once you aggregate standardized,
21 harmonized and aggregate that data, helping to perform
22 enterprise-wide analytics is an important part of what

1 we do, especially when devices are integrated with
2 software. We have cases for quality, heart valves,
3 wound care, pathology, and so on and so forth. So
4 this gives you a little bit of an idea of the
5 different type of medical product spaces that we work
6 in in the device center and also mention since I
7 hinted at digital, we have a Digital Health Center of
8 Excellence. So we all work together across the Agency
9 but then also with stakeholders in the community to
10 address a number of different conditions including
11 rare diseases and their conditions.

12 This is going to give you an example of a
13 little bit deeper dive on one of the examples in the
14 previous slide which is the Liquid Biopsy
15 Standardization Alliance. So you can see a few
16 different entities here and different ways in which we
17 sort of engage the private sector. One that we often
18 highlight is MDIC or the Medical Device Innovation
19 Consortium. We have a few different, actually many
20 different lines of effort and specific projects under
21 MDIC and some of those focus on and touch on rare
22 diseases as well.

1 With those broad sort of overviews, I wanted
2 to highlight a specific exemption and device pathway
3 that is unique to our center that we leverage quite a
4 bit in the in vitro diagnostics office that I sit in.
5 So humanitarian device exemptions and humanitarian use
6 devices are intended to benefit patients in the
7 treatment or diagnosis of diseases or conditions that
8 affect no more than 8,000 individuals in the United
9 States per year. So to the extent possible and
10 consistent with the protection of public health and
11 safety, and consistent with ethical standards, the
12 purpose of this program is to encourage the discovery
13 and use of devices intended to benefit that
14 population. So to just kind of unwind that, you know,
15 what we look at when we evaluate devices similar to
16 drugs and biologics is we're looking for risk/benefit
17 analysis and we're looking for the sponsors, whoever
18 is submitting the application to reach a threshold
19 with regards to validation data that gives us
20 confidence that that device is going to perform for
21 certain populations where the benefit exceeds the
22 risk. And so from the perspective of a medical

1 perspective, this is the core issue with regards to
2 how devices are rolled out and for which populations
3 they benefit.

4 One of the challenges as so eloquently
5 highlighted by the previous speakers is acquiring
6 enough data to reach that threshold and gain that
7 level of confidence. The same general principles hold
8 true for diagnostics reviews and device reviews and so
9 when we're dealing with small populations or rare
10 conditions of rare diseases, achieving that threshold,
11 reaching that threshold with regards to data
12 collection analysis is a difficult thing. It's
13 challenging for sponsors. And so we have a variety of
14 different mechanisms and pathways that we try to be
15 flexible on to encourage innovation in these spaces
16 where we know it's hard to innovate and it's hard to
17 collect enough clinical and analytical validation data
18 to reach the thresholds for authorization or approval.

19 So these are important pathways and they're
20 definitely worth taking a look at if you're interested
21 in the regulatory details and what happens under the
22 hood. There is a link there and I could certainly

1 provide it afterwards as well. I'm going to give a
2 few examples just to make this a little bit more
3 realistic.

4 One example is this assay. It's a molecular-
5 based HDE and so this in vitro diagnostic test was
6 intended or is intended, I should say, for the
7 qualitative detection of this particular gene
8 rearrangement and fresh bone marrow samples with
9 patients with a rare disease and a high index of
10 precision based on karyotyping that gets a little bit
11 jargony pretty quickly but it's an example of how
12 we're using a laboratory diagnostic test in this
13 particular assay that went through this pathway for a
14 small population or population where we wouldn't
15 expect to have many people being enrolled.

16 This is the second example of the molecular-
17 based HDE. It's another assay and this is an in vitro
18 diagnostic test intended for qualitative PCR or
19 polymerase chain reaction detection of another
20 mutation from fresh bone marrow samples in patients
21 with aggressive systemic mastocytosis. So again,
22 another example where the rubber meets the road and

1 that pathway for a particular molecular diagnostic
2 test that's come through this pathway.

3 Another big area, this is sort of an umbrella
4 area where companion diagnostics are used to meet the
5 needs of a smaller population. Companion diagnostics
6 are those that are used to help inform a therapy. So
7 we work in CDRH and my office in vitro diagnostics
8 with CDER, the drug center, on some of these types of
9 applications. So that's where there is really
10 leveraging of expertise across the Agency.

11 Companion diagnostics, they're tested or
12 required to determine whether specific drugs should or
13 should not be administered to a patient and validation
14 of this test comes from a successful drug trial.
15 There are a variety of different challenges that can
16 arise and we work through those collaboratively with
17 our colleagues in CDER. We do bridging studies in a
18 variety of different types of approaches to help those
19 products reach the thresholds that we need.

20 I wanted to give one quick example, or a
21 couple of quick examples, and I just talked to one of
22 my colleagues who works with a lot of these for cancer

1 diagnostics. The first companion diagnostic that was
2 done is a de novo for a non-oncology rare disease was
3 recently approved or authorized, so we've done at
4 least one for a non-oncology rare disease but most of
5 the diagnostics that we deal with, at least in our
6 office, for rare conditions do have to do with cancers.

7 There is another example I'll give which is
8 Fragile X syndrome. That was a first authorized test
9 to detect Fragile X. It's a molecular test that
10 went to market in February of 2020 and there are quite
11 a few others that are listed on the website, but I
12 think we're running short on time, so I'm going to
13 pause there with those specific examples and we can
14 get into them more if we have time.

15 I know this was covered quite a bit already
16 but I just wanted to highlight that we also in CDRH
17 use real world evidence and data in regulatory
18 decisions. I think that traditional, some of the
19 challenges as well as the benefits and limitations
20 have already been covered and they're generally the
21 same across medical product spaces including devices
22 and diagnostics. But just to highlight that we also

1 use these approaches.

2 Again, I don't want to -- since this was
3 covered a little bit, maybe what I'll do here in
4 addition to what's on the slide is talk about how this
5 is hitting the road in our Center specifically. As
6 folks are aware, COVID diagnostics have been one of
7 the three main medical countermeasures and I've been
8 involved on the frontlines of the COVID response for
9 two years straight in diagnostics. So this has been
10 sort of a national demonstration project to look at
11 the balance of data collected pre-market and post-
12 market from a particular set of diagnostics and that's
13 COVID-19 IVD and how we balance looking at what data
14 we used in the pre-market space versus the post-market
15 space and help us to understand how these devices are
16 performing in the real world once they go to market.
17 Under emergency use, of course, the bar to market is lower
18 than under full market approval so it's especially
19 important to look in the immediate post market space
20 and see if there are any signals with regards to how
21 those devices or how the tests in this case were
22 performing. So that is also true when we're talking

1 about other IVDs that have gone to market.

2 In general, it's relevant to this discussion
3 for rare diseases because when you have limited data,
4 again, extracting data across what we call the total
5 product lifecycle which is a balance between pre- and
6 post-market becomes especially important and it
7 highlights an important way in which we're looking at
8 flexibility and decrease in burden on developers and
9 sponsors who come in to address needs that otherwise
10 wouldn't be met or are hard to meet.

11 So we've been doing this for a while, the
12 total product lifecycle approach and supporting and
13 advancing real world data and evidence. As I already
14 mentioned, we do a lot of engagement with stakeholders
15 and these stakeholders help to guide our thinking and
16 help to inform us with regards to looking at how
17 creatively we might be able to accept data from
18 nontraditional sources and also aggregate and
19 standardize data so that we can really extract as much
20 information as we would want to.

21 These are just a few of the different focus
22 areas that we have going on in diagnostics: Real

1 world evidence, clinical diagnostics, health data
2 infrastructure. We do quite a few evidence
3 accelerators. We just actually launched a couple of
4 pilots in terms of evidence accelerator generation
5 focused on COVID but we can do that for anything
6 within our purview with regards to devices or
7 diagnostics and we try to promote innovation. So
8 that's a thread that has sort of also kind of carried
9 through many of the previous talks.

10 I think this is my last slide and it's just
11 to say that I have to acknowledge, for folks that have
12 brought in or sponsors, anyone who is interested in
13 this space has brought applications into our office.
14 We've had a lot of workflow challenges because of
15 COVID and I know that's true of a lot of the offices
16 and Centers are certainly feeling that burden here as
17 we stretch into the third year of the pandemic. But I
18 had to just acknowledge that these categories of IVD
19 resubmissions are suspended but can be accelerated and
20 we're hoping to accelerate them as the burden lightens
21 from the pandemic and some of the programs that I
22 mentioned fall into that category, so it's why I

1 mentioned that as part of this talk.

2 One thing I wanted to address which is part
3 of the questions and I'll be brief on it and we can
4 move on had to do with how can the community help us
5 acquire high quality data? Again, I'll give an
6 example that's fresh in my mind because I've been
7 working on it every day for two years and that's COVID
8 data but it exemplifies any sort of data.

9 So of the things we've been trying to work
10 very, very aggressively with with the community and
11 stakeholders including our sponsors, so the test
12 makers essentially, is how can we identify core
13 standard datasets and implement diagnostics data
14 standards? What I mean by that specifically is which
15 are the key pieces of data that a diagnostic test
16 captures, how can they be coded in an underlying way
17 using specifically HL7 messaging which is what
18 laboratories use or mapped over to fire standards so
19 that the data can flow into EHRs, how can we ensure
20 that that data is standardized and harmonized as
21 upstream as possible so that anybody who is managing
22 or handling or transmitting that data downstream,

1 including ultimately the recipient that in a clinical
2 setting or public health authority and in this case
3 FDA, can aggregate and utilize that data from a
4 regulatory stance?

5 So that's a big, huge challenge and we're
6 sort of swimming in data in some sense, but not able
7 to use all of that data because it's not been
8 standardized and harmonized. So this is like the crux
9 like kind of a bedrock issue when we're talking about
10 trying to get the most out of the data that we have at
11 hand and we're working really hard in that regard with
12 regards to diagnostics and we have some new programs -
13 - well, they aren't new, but they've been going on for
14 a while but we have new funding to really hit the gas
15 on these types of programs - and I think that we'll
16 benefit not only the diagnostics that we're dealing
17 with today but those that could benefit into the
18 future and certainly diagnostics used in the companion
19 diagnostics program in the HDE and HED programs that I
20 mentioned will also be feeling those effects. So I
21 will turn it over. Thank you.

22 DR. RETZKY: Thanks so much, Sara. That was

1 really interesting. Our next speaker is Weida Tong.
2 He is from the National Center of Toxicological
3 Research. Weida.

4 DR. TONG: Okay. Well, thanks, Sandy. I
5 have to say, I've very much enjoyed learning the
6 perspective and efforts from our sister Centers about
7 their efforts and their rare disease. So I'm going to
8 add a few points from my Center into this discussion.
9 Now, my role at NCTR is to address biological
10 questions with computers. So today I'm going to talk
11 about how we approach a rare disease with the
12 computational approach.

13 So personally, my own introduction to rare
14 disease is entirely accidental and actually, this
15 whole rare disease issue was presented to me in a
16 personal form. About 15 years ago, I had a young
17 couple to work in my group and we were very close.
18 They had two young boys about two years apart and they
19 noticed that the younger one was much energetic and
20 active than the elder brother, so they brought the
21 elder son to many doctors for diagnosis which in
22 itself was a frustrating journey since most doctors

1 won't be able to tell what's really going on with
2 their boy.

3 After six months of struggling and finally
4 they were told that their son had a rare disease
5 called metachromatic leukodystrophy. Now, this is the
6 first time I'd heard about that there is such a thing
7 called a rare disease, let alone this specific
8 disease. So later on, I learned that metachromatic
9 leukodystrophy is a rare genetic disorder that caused
10 the fatty substance to build up in the brain. It is a
11 hereditary disease and both parents clearly carried
12 that allele so that the chance of an offspring to have
13 a disease is around 25 percent. By the way, their
14 younger son actually is okay.

15 So in the following few years, I witnessed
16 the tormented experience that the young couple went
17 through and we tried very hard to help and we made a
18 lot of the calls and read a lot of the literatures and
19 then we realized that there were really not much we
20 can do to help and particularly from the therapeutic
21 point of view because there were not many therapeutic
22 options available for most rare diseases including

1 metachromatic leukodystrophy.

2 So with that said, we did pick up a few ideas
3 along the way and we also formulated our own opinion
4 about the rare disease. We really feel that our
5 computational skill could be useful to help out the
6 development of the treatment options for the rare
7 disease. Now, we know that rare disease only impacts
8 a small number of patients so that's why not many
9 doctors are specialized in this field and not many
10 drugs are available. But this should not be
11 interpreted that the rare disease is difficult to
12 treat.

13 Furthermore, we are arguing that there might
14 be already some FDA approved the drugs on the market
15 that can be used for the treatment of the rare
16 disease. Now, this assumption is supported by two
17 observations. First we notice that on the patient
18 discussion forum, some drugs were mentioned to treat a
19 certain rare disease where the drug is not designed
20 to. This is what we call the off-label use which
21 actually is quite common.

22 Second, we also noticed that there are quite

1 a number of clinical trials that's on existing drugs
2 for a disease. Now, these drugs are originally
3 developed for entirely different reasons. So in our
4 field, these kind of off-label use of existing drugs
5 for the different disease is called the drug
6 repositioning or sometimes also called a drug
7 repurposing or drug reuse. Traditionally, this type
8 of approach is largely depending on so-called happy
9 accident. As a matter of fact, Viagra is a great
10 example. Viagra is originally designed to pump blood
11 for the treatment of the heart disease, clearly blood
12 is pumped to the wrong place and voila, we had a
13 blockbuster drug for recreational purpose.

14 Another good example is thalidomide was
15 originally used for morning sickness in pregnant women
16 but instead it has caused birth defects. However,
17 later on people find out that thalidomide was
18 effective for the treatment of leprosy and lupus.
19 Nowadays it has been used for COVID-19 as long as we
20 keep it away from the pregnant woman.

21 So clearly, the potential benefit of
22 repurposing FDA drugs is quite attractive and

1 appealing because we have considerable scientific
2 evidence about the risk so that they are repurposing,
3 may require less time and less expense than the
4 developing a new one.

5 However, this happy accident approach is not
6 sustainable because it could miss opportunity to
7 identify these drugs that have had not happy accidents
8 yet. So this is where the computational approach can
9 be very helpful because the computational method
10 allows rapid assess and access all the drugs for their
11 potential to treat the rare disease. So our
12 computational approach actually is quite simple. It's
13 based on two assumptions and if two drugs are very
14 similar, and we believe both drugs can be used to
15 treat the same disease, now if two diseases are
16 similar and both diseases can be treated with the same
17 drug. So what we did is to group all the FDA-approved
18 drugs into multiple buckets based on their similarity
19 and we also group rare disease into multiple buckets
20 by their similarity. Then we're matching the drug
21 buckets with the disease buckets. So in the end of
22 the day, we will be able to propose a list of the

1 drugs candidates for rare disease. Currently we
2 studied cystic fibrosis, lipid syndrome, we've also
3 found that the cancer drugs actually can be effective
4 for some rare disease. Most recently we are
5 extensively using artificial intelligence in
6 repurposing for the treatment of the rare disease. I
7 stop here and thank you very much for listening and I
8 am looking forward to your questions.

9 DR. RETZKY: Thank you so much, Weida. That
10 was really interesting. I do have a question for you.
11 Is there something -- can you point to any drug that
12 is currently in clinical trials or has been
13 commercialized using the computational methods that
14 you described?

15 DR. TONG: For rare diseases themselves, we
16 did not see that and so what we did at NCTR is we're
17 using the computational method to propose a list of
18 the drugs for the different rare diseases and then we
19 follow up with experiment verification because the
20 drugs were developed for the treatment of certain
21 disease normally have a very different dose if you
22 want to repurpose it for the different disease. So

1 that part and we have to go through experimental verification. So
2 from our lab we have not really reached that point yet
3 but on the market, we're also not aware there is a
4 drug solely based on the computation.

5 DR. RETZKY: Okay. Thank you. Well, we have
6 a couple of questions that we have. The first
7 question that we have from the audience is this, and
8 I'm going throw this to you, Michelle. How can
9 academics and others improve quality of shared data in
10 analytics?

11 DR. CAMPBELL: Yeah. Thank you, Sandy, and
12 thank you to whoever asked that question. That's a
13 great question to ask because the goal of RDCA-DAP is to
14 actually try to harmonize and increase and perhaps
15 even teach and learn to other stakeholders about data
16 standardization, appropriateness of how to collect
17 data, critical variables that may need to be collected
18 and how to work under the fair principles when
19 collecting data. So that is a goal. We know that
20 every investigator probably has their own unique way
21 of collecting data but we do recognize that when we
22 need to pool this data together and curate it, we do

1 need to have a way to have our data try to be as
2 standardized as possible. So that is one of the
3 outcomes that is going to be examined and looked at
4 and I think this is a continual thing that I think all
5 of us as stakeholders and all of my colleagues in the
6 other Centers will probably all be collaborating on at
7 some point because data stances are critical. We know
8 that we apply them to the data that we see that comes
9 in into our applications but we know that it's needed
10 and we know that if we can all learn together on how
11 to really collect good quality data through data
12 standards it will only enhance the abilities of what
13 we can do with that data.

14 DR. RETZKY: Thank you. Sara, we had a
15 question about pumps and health technology and pumps.
16 Could you address that?

17 DR. BRENNER: I'm not really sure which
18 pumps. So if the person who asked the question wants
19 to be more specific, I can give it a try. I mean,
20 generally speaking if the pump is part of the medical
21 device and it's a regulated medical device, then yes,
22 that would fall under CDRH and our Center. Not my

1 office which deals with diagnostics, but the CDRH
2 device center.

3 DR. RETZKY: So I'm not -- the question was
4 general, but what I'm thinking is in terms of health
5 technology and all things that are available to make
6 products more autonomous with some other innovations.
7 Is there anything that's happening in the device world
8 using technology to allow, say, others, even remotely
9 to work on pumps?

10 DR. BRENNER: Oh, sure, so if we expand it to
11 that, then absolutely. So one of the things that
12 requires sort of cross office collaboration within CDRH
13 is addressing these new emerging technologies and this
14 is an exciting area. My bias is a bit showing here
15 because I'm a bit of an innovator and regulators
16 closing and have a background in nanotechnology and
17 health technology. So I think what we're seeing is a
18 convergence of different types of products with each
19 other in unconventional ways. Pumps might be,
20 depending on what that example is, one type of
21 particular instance we could talk about and you'd
22 raised the idea of more autonomous or remote

1 monitoring of patients, for example, telehealth
2 services, there's certainly another area that's really
3 growing and we spend a lot of time thinking and
4 talking about the stakeholders in CDRH.

5 I think with regards to these conversion
6 technologies, another example I can give is with
7 diagnostics and that's again going back to COVID, but
8 this is true for a lot of different types of
9 diagnostic technologies. When you remove the device,
10 in this case a diagnostic from its traditional setting
11 like a laboratory and you move it into a point of care
12 setting or an over-the-counter setting, there are ways
13 that developers of integrated software and apps,
14 digital tools, for example, like a phone app or a web-
15 based app where patients can interact with an enter
16 data or have data extracted from that device and sent
17 to where it needs to go to a healthcare provider or
18 prescriber, public health department, et cetera.

19 So those types of convergent technologies we
20 review in-house and we're actually actively recruiting
21 experts in disciplines and backgrounds such as
22 software and cybersecurity, digital health, and those

1 all have to do with the data that's coming off those
2 devices and how to use it maximally but also how to
3 protect it from a patient privacy standpoint. So I'm
4 not sure, it's a bit of a wandering sort of response,
5 but I guess that's to say yeah, it's all fair game and
6 it's exciting new territory.

7 DR. RETZKY: It's a general question but
8 given the desire to try to decrease the burden on
9 patients and caregivers and their families, I think
10 it's a natural question to ask about even something
11 like pumps, what could be done from a technology
12 standpoint. But there is another question that we
13 have that I want to get to. Donna, I'm going to ask
14 if you can take this. It's not specific to cancer,
15 but the question is, we are using a drug off-label and
16 it works wonderfully but we need to get it on-label.
17 So how do we help our doctors in getting this to
18 happen? What would you suggest for that?

19 DR. RIVERA: I think this maybe goes back to
20 the theme of the day which is data. We use rigorous
21 evaluation of data and scientific evidence to meet
22 substantial evidence in standards and allow drugs to

1 be approved so I think this really gets back to
2 finding ways to evaluate off-label use in a rigorous
3 setting. Depending on what evidence generation is
4 appropriate, whether that evidence generation be in a
5 randomized controlled trial or in a pragmatic trial or
6 use of real world data and certainly that depends on
7 the specific clinical setting, so I would always
8 recommend speaking early and often with the relevant
9 clinical review division in terms of designing and
10 thinking about that but in order for it to become
11 labeled and an indication that's from that standpoint,
12 something the FDA could approve in labeling the
13 requirements would really rely on high-quality,
14 rigorous data and evidence to support that potential
15 indication.

16 DR. RETZKY: Yeah, I totally get what you're
17 saying. It's -- there's a lot of drugs that are used
18 off-label but there's a lot of work to be done to get
19 them on-label. We have a question for you, Weida.
20 The question is, are you using artificial intelligence
21 and computational biology to examine structure activity
22 relationships and extrapolate that into drug

1 repurposing?

2 DR. TONG: Yes, we do, and this is just one
3 of the approaches we use. Actually we use more than
4 just a structure-activity relationships and the one
5 specific approach we use the most actually, look at
6 the rare disease patients the gene expression profile
7 and then we look at the drugs gene expression profile
8 and these gene expression profiles goes the opposite
9 way, then we consider this as one of the match. So
10 this is looking at gene expression profile. We also
11 look at the pathways and protein-protein networks. So
12 we are trying to gather as much information as we can
13 to match the drug to the rare disease.

14 DR. RETZKY: Okay. Thank you. Dr. Witten,
15 one question for you. You talked about the Bespoke
16 Gene Consortium. It's all AAV vectors, right?
17 There's no other type of vectors that are being used?

18 DR. WITTEN: Yes, that's correct.

19 DR. RETZKY: So when academics and industry
20 are using those gene vectors, are they pulling any
21 preclinical data so they don't have to keep doing the
22 same animal studies over and over again?

1 DR. WITTEN: So right now the program is --
2 that's a great question, by the way. Right now the
3 program is still getting developed and gearing up to
4 start. So I just want to make that clear. It's not
5 already -- these studies are not already ongoing. But
6 that is the goal. The goal is that there will be a
7 discussion of all aspects of the study, the product,
8 the testing, including the preclinical testing, the
9 clinical study design. Among the groups working on
10 the different studies that will be part of this
11 exercise and to try to see what kind of common themes
12 or common knowledge might help develop more
13 standardized protocols for how you would assess some
14 aspect of the development.

15 I can't be really more specific because I
16 think it depends on what part of it, but you -- the
17 idea is to share the data as we go along and the
18 approach and see what we can learn from that sharing.
19 Because as I think someone has already mentioned, and
20 I mentioned, but a lot of times the development gets
21 done in a silo and what happens is one company learns
22 from that company's experience but there may be

1 another company that's doing the same kind of testing,
2 the same kind of learning and it may be that it's, it
3 could be if it were informed by some knowledge from
4 the other development program, that might be helpful.
5 Not that I'm saying everything is going to get shared,
6 but just there are some things that you can imagine
7 might be gained from sharing development,
8 especially from these teeny tiny diseases where there
9 really might not be the appetite to do these siloed
10 development programs for every single disease. I just
11 think it might not end up working out to meet people's
12 needs fast enough.

13 DR. RETZKY: Okay. Well, we're at time. I
14 can't thank our panelists enough. That was a very
15 interesting presentation from everyone. Thank you so
16 much for participating today. Very interesting
17 information. Thank you very much. I'm going to hand
18 this over to Lewis and we're going to go ahead and go
19 to the open comment period. Thank you. Take care,
20 everybody.

21 DR. FERAGLICH: Thank you, Dr. Retzky.
22 We'll finish up FDA's Rare Disease Day 2022 with the

1 open public comment period moderated by Teresa Rubio
2 from OOPD. Teresa.

3 MS. RUBIO: Hello. My name is Teresa Rubio
4 and I will be moderating the open public comment
5 portion of the meeting. Today we have 13 speakers
6 registered. These speakers signed up on a first come,
7 first served basis. Each speaker will have two
8 minutes to speak. If a speaker finishes early, we
9 intend to move on to the next speaker. If a speaker
10 is over the two-minute mark, I will kindly ask you to
11 stop.

12 We will call each speaker by their name.
13 When it is your turn, please turn on your camera and
14 unmute your microphone to provide your comments. For
15 transparency purposes, we ask that you please disclose
16 if you are affiliated with an organization or if you
17 have significant financial interests in rare disease
18 medical product development.

19 As a reminder, you also have the option to
20 submit comments to the docket which will remain open
21 until Friday, April 8, 2022. I will now call the
22 first speaker in the open public comment period. The

1 first speaker is Nina Hunter. Nina.

2 MS. HUNTER: Hi. I'm Nina Hunter, VP
3 Regulatory and Science Policy of REGENXBIO. My
4 colleague Anne Ganot from Solid Biosciences and I are
5 here representing the Pathway Development Consortium,
6 PDC, a public-private collaboration. The PDC is a
7 multistakeholder initiative which aims to identify,
8 develop, expand and maintain pathways to effective AAV
9 gene therapies for patients diagnosed early in life
10 with rare diseases. The PDC seeks to achieve these
11 goals by bringing together broad and diverse group of
12 stakeholders from the rare disease and AAV gene
13 therapy communities including patients, industry,
14 regulators, academia and payers among others for
15 meaningful scientific and policy discussions.

16 The PDC was cofounded because of a shared
17 vision that collaboration can meaningfully guide how
18 AAV-based gene therapy treatments can be more rapidly
19 made available to patients and it seeks to bring
20 together the diverse perspectives in the rare disease
21 community with the interest of the patient at the
22 forefront.

1 Broad stakeholder engagement has been
2 recognized as an important factor by the agency to
3 facilitate and expedite the development of AAV gene
4 therapies for rare diseases. Recently, the PDC
5 published a draft white paper which proposes a
6 framework that can be applied to AAV gene therapies to
7 facilitate the use of accelerated approval pathway of
8 the FDA. The white paper identifies different
9 categories of AAV gene therapies that target the
10 underlying monogenic changes that cause disease and
11 proposes generalized approaches that would clarify the
12 evidence needed to support FDA approval. The PDC is
13 actively seeking feedback on this framework which is
14 available on our website at
15 pathwaydevelopmentconsortium.org.

16 AAV gene therapies are emerging to address
17 serious rare diseases with unmet medical needs. It is
18 imperative that the community of patients, providers,
19 AAV gene therapy developers and others work with FDA
20 to expeditiously and safely bring effective treatment
21 options to patients. Thank you for your time.

22 MS. RUBIO: Thank you so much, Nina, for your

1 comments. Our second speaker will be Annie Ganot.
2 Annie, if you could unmute your microphone and turn on
3 your camera. Thank you so much.

4 MS. GANOT: Thank you so much. I am Annie
5 Ganot, VP of Patient Advocacy at the Solid Biosciences
6 and mother of an 11-year-old boy with Duchenne
7 Muscular Dystrophy. Following my son's diagnosis, I
8 co-founded Solid Biosciences to advance the best
9 science and accelerate the discovery and development
10 of meaningful treatments that may benefit all patients
11 living with this devastating condition. The PDC's
12 activities in Duchenne kicked off with a roundtable
13 discussion held last year to focus on this progressive
14 muscle-wasting genetic disease. Children with
15 Duchenne are typically diagnosed between the ages of
16 three and five years old. They lose the ability to
17 walk by their early teens and succumb to heart or
18 respiratory failure in their mid-20s.

19 The roundtable focused on finding a path
20 forward for meaningful endpoints in clinical trials
21 and brought together more than 120 attendees from the
22 Duchenne patient community, industry, academia and the

1 FDA. The PDC also published a white paper identifying
2 areas where attention is needed to facilitate
3 development of AAV gene therapies for Duchenne.

4 Work on Duchenne priorities and the
5 application of the framework is continuing today with
6 a working group that is exploring the use of FDA's
7 accelerated approval pathway for AAV gene therapies
8 intended for patients with Duchenne. The FDA's
9 accelerated approval pathway is an important tool used
10 to bring the therapeutic options to patients and
11 demonstrates FDA's flexibility as a regulatory agency.
12 We look forward to working with stakeholders including
13 the FDA on this. Thank you so much.

14 MS. RUBIO: Thank you so much for your
15 comments. Next up we'll be hearing from Bridgette
16 Reynolds. Bridgette.

17 MS. REYNOLDS: My name is Bridgette Reynolds
18 and as far as disclosures are concerned, I sit on as a
19 patient advisor (Inaudible) of Northwestern university
20 research laboratory. I'd like to say (Inaudible)
21 patients voice and experience is paramount (Inaudible)
22 outcomes and drug therapies for smaller rare disease

1 populations, persons with variations of rare diseases
2 and the (Inaudible) near 25 percent variation of
3 sickle cell anemia minority genotype within a rare
4 disease (Inaudible) sickle cell disease, yet there are
5 times when it's not (Inaudible) much of a difference.

6 Growing up I had many -- had experienced many
7 pain crises that were in in my extremities which changed as I got
8 older and became in my chest and I was
9 vulnerable to chest syndrome, ended up in the hospital
10 and in comas and had this really, really -- my
11 hematologist described a wild ride. Pain can be
12 merciless and growing up there wasn't a drug therapy,
13 I didn't expect to live past 20 years of age.

14 You know, as science progressed for new drugs
15 on the scene and new therapies, and (Inaudible) those long-awaited
16 drug
17 therapies were marketed. When they were marketed, I
18 availed myself to them. I experienced serious side
19 effects that (Inaudible) then a specialty pharmacist
20 recommended (Inaudible).

21 How do you overlook (Inaudible) disease claim
22 to have (Inaudible) researching how (Inaudible) only
23 the majority heterogenous patients wonder if such

1 drugs applied to us. (Inaudible) still without a drug
2 therapy (Inaudible).

3 MS. RUBIO: Thank you so very much for your
4 comments. We've hit the two-minute mark but thank you
5 so very much. Next up we'll be hearing from Amy
6 Skiva. Amy, if you could -- there you are.

7 MS. SKIVA: Thank you. Hello. My name is
8 Amy Skiva and I'm the Executive Director for the Lung
9 Transplant Foundation. Our mission is to improve the
10 lives and provide better outcomes for lung transplant
11 patients and their families. We do this in a variety
12 of ways by providing resources, mentorship, and
13 support directly to our community as well as
14 advocating for research for lung transplant patients,
15 specifically in post-transplant rejection.

16 As a representative of the lung transplant
17 patient community, I would like to bring awareness to
18 a rare disease impacting lung transplant patients,
19 bronchiolitis obliterans syndrome, or BOS. BOS is a
20 100 percent fatal disease that affects up to 50
21 percent of all lung transplant patients within the
22 first five years post-transplant.

1 We will be engaging with the FDA in the first
2 externally-led Patient Focused Drug Development
3 meeting for BOS this year in June. We are encouraged
4 by the FDA's interest and motivation to learn directly
5 from patients and caregivers about the impact of BOS
6 on our community and the current unmet need for an FDA-
7 approved therapy. Thank you so much for your time
8 today and for your dedication to the rare disease
9 community.

10 MS. RUBIO: Thank you so much, Amy. Next up
11 we'll be hearing from Julie Breneiser. Julie.

12 MS. BRENEISER: My name is Julie Breneiser and
13 I'm the affected parent of two with Gorlin Syndrome, a
14 rare genetic illness that can affect every organ
15 system. The most common symptomatic manifestations
16 are invasive tumors of the jawbones and basal cell
17 carcinomas or BCCs. Some of us will have over 1,000
18 in our lifetimes. Some have died due to metastatic
19 basal cell carcinoma. Gorlin Syndrome is one of the
20 many serious rare diseases with no FDA-approved
21 treatment.

22 For this reason, when evaluating treatments

1 and products for all with rare diseases, we urgently
2 hope to see an even greater Agency-wide commitment to
3 preside with the utmost regulatory flexibility
4 including rare disease-specific approaches.
5 Regulatory standards applied for the evaluation of
6 common disorders are not appropriate in rare diseases
7 which must be looked at uniquely to provide new and
8 better opportunities. Without regulatory flexibility,
9 how we feel, function and survive is negatively
10 impacted.

11 Treatment goals in clinical trials of
12 potential rare disease therapies need to be looked at
13 differently and in most cases lowered for this
14 population. For example, reducing the number of BCCs
15 by 25 percent could result in one quarter of my face
16 being skin cancer free. Alternatively put, a
17 reduction of BCCs by 25 percent could reduce the
18 lifetime burden from 1000 to 750. That's huge.
19 Reasonable approaches to rare disease trials need to
20 be used including limiting the number of participants.
21 In some diseases there just aren't enough participants
22 to reach the mandated quotas.

1 Inclusion of the voice of patients and
2 advocacy groups in the orphan drug designation process
3 is essential. Part of the mission of the FDA is to
4 advance public health. Please provide this needed
5 help to those of us with rare diseases by considering
6 these adjustments when evaluating potential valuable
7 treatments. This will allow individuals and their
8 loved ones --

9 MS. RUBIO: Thank you so much, Julie, for
10 your comments. We've reached the two-minute mark.
11 Thank you so much. Next we will be hearing from Deb
12 Murphy. Deb.

13 MS. MURPHY: Hi. My name is Deb Murphy. I
14 am with the Hypoparathyroidism Association.
15 Hypoparathyroidism is a rare endocrine disorder. The
16 parathyroid gland maintains your calcium and your
17 phosphorous and causes muscle tetany, brain fog,
18 and seizures. 37 out of 100,000 have this in the US
19 alone.

20 80 percent are from neck surgeries and 20
21 percent are from a much more trickier form to diagnose
22 and we classify those as nonsurgical. They are

1 genetic autoimmune idiopathic. They include Barakat
2 Syndrome, CASR, ADH1, TBX1, MEND1, Albright's,
3 Hashimoto's, DiGeorge, and then there's also pseudo
4 and pseudo pseudo hypoparathyroidism. These can take
5 sometimes up to ten years to get diagnosed.

6 Right now we only have standard of care which
7 is calcium and active vitamin D. This sustains us but
8 that's it. Some patients suffer from calcium crashes
9 -- which I'm doing right now -- which can be severe
10 enough to land them in the ER or be hospitalized.

11 Long-term risk of the standard of care is
12 hypocalciuria, chronic kidney disease, and
13 development of calcium deposits in your brain and in
14 your skeleton. With the help from Ascendis, we were
15 able to do a survey to show the quality of life and we
16 have a poster on our website that would help. It's at
17 www.hypopara.org. Results of this survey underscore
18 the high disease burden of patients with hypopara.

19 We do have some drugs in the pipeline and
20 they are a ways away. My heart is to see them come
21 faster rather than later. We are rare, we are
22 chronic, and we need your help. Thank you.

1 MS. RUBIO: Thank you so much, Deb. Next
2 we'll hear from Ella Vellasa. Ella.

3 MS. VELLASA: Hello, everyone. Thank you for
4 having me. I do not have any disclosures. My name is
5 Ella Vellasa and I am a rare disease patient with
6 cystic fibrosis which is a progressive lung disease.
7 And I've experienced significant health challenges
8 throughout my life and I'm urging stakeholders from
9 industry, regulatory, policymakers to collaborate in
10 supporting the rapid development of novel therapeutics
11 and identifying approaches to examining vast patient
12 existing data to find solutions and treatments.

13 Many patients with rare disease cannot afford
14 to wait for years for drugs and trials to get to
15 market approval and with the advent of new gene
16 therapies, rapidly expanding the possibilities for
17 viable and valuable therapeutics, patients need
18 emergent IND and expanded access to drugs in trials
19 and experimental therapies as well. There is no time
20 to waste.

21 The traditional means of clinical trial
22 development must be shifted. There must be devised

1 with adopted features such as expanding trial
2 inclusion based on accumulating data and elimination
3 of placebo arms, expanding eligibility criteria to
4 include a broader group of patients who experience the
5 breadth of symptoms and disease manifestations is
6 imperative. In rare disease, there isn't a "one size
7 fits all".

8 Please recognize that patients and families
9 in the rare disease community must fight so much more
10 fiercely to gain access to therapeutics to spur
11 research from biotechs and pharma companies and often
12 even to get a proper diagnosis.

13 So work to reduce the barriers to treatment
14 access and minimize denial from payers because
15 expensive specialized drugs aren't on formulary.

16 So on behalf of the cystic fibrosis community
17 and rare disease patients everywhere, I appreciate
18 your considerations in making our lives have a future
19 to look forward to.

20 MS. RUBIO: Thank you so much for your
21 comment, Ella. Next we'll hear from Jillian Sabia.
22 Jillian.

1 MS. SABIA: Good afternoon. My name is
2 Jillian Sabia. I'm a registered nurse. My daughter
3 Penelope has classic galactosemia. At eight days old
4 in the NICU, a crash cart rested outside of her room.
5 She had femoral lines, NG tubes, oxygen and her little
6 body was tangled in lines. She survived a late
7 diagnosis of classic galactosemia and as of right now
8 has no cure.

9 Around her first birthday, I noticed
10 seizures. It took a year to diagnose and treat. I
11 carry a rescue med with me. Every time my daughter
12 sleeps, I think did I miss the big one? Did she die?
13 I live with this every single day. At two years old
14 she started vomiting until her third birthday she
15 stopped walking diagnosed with Chiari malformation.

16 She had brain surgery to avoid permanent
17 disability. In her short life, she has suffered and
18 struggled. Last summer we joined Applied Therapeutics
19 AT007 drug trial in hope to help her. Last August she
20 couldn't draw, had various delays, seizures, tremors
21 and many other symptoms. Her IEP team at school
22 agreed that she probably would stay in pre-k this year

1 and next.

2 As of today, her tremors are now gone, her
3 IEP suggested introducing kindergarten for the rest of
4 this current year. She's counting up to a healthy
5 development for a four-year-old. Her progress is
6 undeniable. The study proves 50 percent decrease in
7 toxic galactitol which could help slow progression of
8 the disease. This is a double blinded placebo trial.

9 Other moms in the trial, even with a double
10 blinded placebo know they're not taking the drug. You
11 cannot hide the progress of AT007 in other kids. We
12 were denied accelerated approval resulting in a
13 partial clinical hold by the FDA. Extending the
14 placebo aspect is cruel. The progression of the
15 disease continues for many people with CG. Please
16 consider our dilemma as children can progress to
17 seizures and other medical complications at any time.
18 Many adults are in group homes, so please help us stop
19 the progression in our children. Thank you so much
20 for your consideration in this important matter.

21 MS. RUBIO: Thank you so much, Jillian. Next
22 we will hear from Christine Sailor. Christine.

1 MS. SAILOR: I am Christine Sailor and I and
2 my 14-year-old son has classic galactosemia as well.
3 Galactosemia is a disorder that only affects a few
4 thousand people in the US and it's a genetic metabolic
5 disorder. My son Jake has lifelong impacts that have
6 included apraxia which is a neurological disorder
7 which affects his speech, fine, and gross motor
8 movements.

9 Jake receives speech therapy and occupational
10 therapy starting at 18 months old for him to be able
11 to speak, read, write, and move properly. There is a
12 possibility in the future he could face severe tremors
13 and seizure disorders and other neurological
14 complications. Our family has been involved in the
15 Galactosemia Foundation since Jake was two and we have
16 seen the other devastating effects of other children
17 and families in our community ranging from severe
18 mental cognitive disability, infertility in girls and
19 neurological disorders.

20 Because of these effects on Jake and others,
21 we made the weighted decision to enroll Jake in the
22 clinical trial sponsored by Applied Therapeutics and

1 their treatment with the drug AT007. Jake started
2 participating when he was 13-years-old and continues
3 today. The participation has been hard on him with
4 the demands of blood draws, testing, and life
5 sacrifices but we believe in this clinical trial and
6 we have seen no ill side effects and are committed to
7 the study. We believe based on the reduction of the
8 biomarker galactitol in this data and the safety of
9 the drug, it should be accepted on the accelerated
10 approval pathway for FDA approval.

11 We are committed to continuing this study for
12 long-term outcomes. The galactosemia community as
13 well as other rare diseases depend on the accelerated
14 approval pathway. Rare diseases cannot produce the
15 number of participants needed for a clinical trial.
16 Galactosemia has no medical treatment. We ask the FDA
17 to partner with our community in hopes for the AT007
18 to get into the hands of our community. Every day
19 that passes is another day of worsening affects and we
20 believe this drug can change lives. Thank you very
21 much.

22 MS. RUBIO: Thank you so very much for your

1 comments. Next we will hear from Roy Nierenberg.

2 Roy.

3 MR. NIERENBERG: Hi. This is Roy Nierenberg.

4 I have Huntington's Disease and am part of the
5 Huntington's community and this is the second time I'm
6 talking to the FDA. I did it seven years ago. But I
7 really, so much has changed and I really appreciate it
8 and I hope this is recorded so I can view it in real
9 time and really gather all the things.

10 I had technical troubles getting on. There
11 was some time when I was -- had double sound. But by
12 background I was a lawyer, an economist in Washington,
13 DC, then a software guy, but now I'm dealing with
14 Huntington's Disease. I'm very positive about what
15 will happen and wish I had seen most of the webinar
16 when I had more questions for you. I don't have
17 prepared remarks but a lot of respect for you and I
18 yield my time to the next person who hopefully will be
19 able to be visible. Thank you.

20 MS. RUBIO: Thank you so much, Roy, we really
21 do appreciate your comments. Our next speaker will be
22 Ennis Macias Perez. Ennis. Do we have Ennis with us

1 at this time?

2 MS. PEREZ: Hi. Sorry. I'm the Principal
3 Scientist at Cumberland Pharmaceuticals. I'm also the
4 principal investigator for the Fight DMD trial, that's
5 the first clinical trial for Duchenne Muscular
6 Dystrophy that was awarded an FDA orphan product
7 clinical trial grant. Cumberland is cosponsoring with
8 the FDA the Fight DMD trial to determine if our small
9 molecule inhibitor aphetrovan (ph.) can prevent the
10 cardiomyopathy associated with Duchenne which is the
11 leading cause of death.

12 Duchenne, like with other rare diseases is
13 heterogeneous. Even patients with the same genetic
14 mutation progress differently and this includes the
15 heart disease. Our study was designed collaboratively
16 with guidance from patients with Duchenne. We learned
17 a lot from the Duchenne community: what study design
18 features were of value to them like an optional open
19 label extension and what matters most to them when
20 deciding to participate in a clinical trial like
21 assistance with travel and what barriers prevent them
22 from participating such as requiring patients to be

1 ambulatory or taking or not taking specific FDA-
2 approved medications. With the FDA OPDs clinical
3 trial grant, we launched the Fight DND Trial at six
4 Duchenne centers in the US in 2020 and our first study
5 participant was set to start in March 2020 which was
6 coincidentally and unfortunately when COVID impacted
7 clinical research globally and all our study centers
8 were required to freeze all clinical trial activities
9 including our first study participant's visit. The
10 Duchenne community was incredibly supportive and
11 motivated to return to the clinic not just for their
12 clinical care but also for participation in a clinical
13 trial.

14 The FDA OPD offered grantees such as myself
15 additional support in the form of a supplemental grant
16 to help manage the challenges caused by COVID.
17 Cumberland used these funds to open more trial centers
18 so that we could expand the access to more Duchenne
19 patients so they can participate closer to home and we
20 opened a cloud-based repository for the cardiac
21 imaging data so they could be analyzed remotely and in
22 real time during COVID and post-COVID as we are seeing

1 today.

2 MS. RUBIO: Thank you so much, Ennis, we have
3 hit the time.

4 MS. PEREZ: Thank you. I appreciate the
5 opportunity.

6 MS. RUBIO: Thank you for your comments.

7 MS. PEREZ: Bye.

8 MS. RUBIO: This now concludes the open
9 public comment period. We really appreciate everyone
10 participating today. I'll now transition to Sandy
11 Retzky to provide closing remarks. Sandy.

12 DR. RETZKY: Thanks so much, Teresa. Hello
13 everyone, again. It's been a really wonderful day to
14 be with you. We've had an incredible group of
15 panelists and really appreciate all of the public
16 comments we got. You know, I sit here and I'm
17 thinking to myself, what do I take away from today?
18 And I still -- I think what FDA does is really
19 amazing. Patients are center to everything we do.
20 But we understand we need to do more. We need to be
21 more innovative, we need to be more flexible, and we
22 need to be quicker. So we hear what you're saying and

1 greatly appreciate it. I think I was most touched
2 today by the panel, panel five of the patients who
3 have engaged with FDA. So if there is one thing that
4 I can leave you with personally is please engage with
5 us at FDA. Panel, if you look at the meeting
6 materials, there is information on how to reach us at
7 FDA and how to get engaged with us and we hope you'll
8 really do that. We can't get enough information from
9 you, so please engage with FDA.

10 I'd ask one more thing - you'll get a survey today
11 about this event. Please tell us what you thought,
12 good things, the bad things, so that we can improve.
13 We look forward to next year's Rare Disease Day and
14 being with you. That's all we have from today. Take
15 care. Have a good day. Bye for now.

16 (Recording ends.)

17

18

19

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

CERTIFICATE OF NOTARY PUBLIC

I, TERRELL LEE, the officer before whom the foregoing proceedings were taken, do hereby certify that any witness(es) in the foregoing proceedings, prior to testifying, were duly sworn; that the proceedings were recorded by me and thereafter reduced to typewriting by a qualified transcriptionist; that said digital audio recording of said proceedings are a true and accurate record to the best of my knowledge, skills, and ability; that I am neither counsel for, related to, nor employed by any of the parties to the action in which this was taken; and, further, that I am not a relative or employee of any counsel or attorney employed by the parties hereto, nor financially or otherwise interested in the outcome of this action.

<%25533,Signature%>
TERRELL LEE
Notary Public in and for the
STATE OF MARYLAND

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

CERTIFICATE OF TRANSCRIBER

I, REBECCA MURRAY, do hereby certify that this transcript was prepared from the digital audio recording of the foregoing proceeding, that said transcript is a true and accurate record of the proceedings to the best of my knowledge, skills, and ability; that I am neither counsel for, related to, nor employed by any of the parties to the action in which this was taken; and, further, that I am not a relative or employee of any counsel or attorney employed by the parties hereto, nor financially or otherwise interested in the outcome of this action.

<%18266,Signature%>
REBECCA MURRAY