

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

PERIPHERAL AND CENTRAL NERVOUS SYSTEM
DRUGS ADVISORY COMMITTEE MEETING (PCNS)

Virtual Meeting

Wednesday, March 30, 2022

10:00 a.m. to 5:03 p.m.

Meeting Roster**DESIGNATED FEDERAL OFFICER (Non-Voting)****Jessica Seo, PharmD, MPH**

Division of Advisory Committee and

Consultant Management

Office of Executive Programs, CDER, FDA

PERIPHERAL AND CENTRAL NERVOUS SYSTEM DRUGS**ADVISORY COMMITTEE MEMBERS (Voting)****G. Caleb Alexander, MD, MS**

Professor of Epidemiology and Medicine

Johns Hopkins Bloomberg School of

Public Health

Center for Drug Safety and Effectiveness

Baltimore, Maryland

Robert C. Alexander, MD

Chief Scientific Officer

Alzheimer's Prevention Initiative

Banner Alzheimer's Institute

Phoenix, Arizona

1 **Liana G. Apostolova, MD, MSc, FAAN**

2 Distinguished Professor in Neurology

3 Barbara and Peer Baekgaard Chair in

4 Alzheimer's Disease Research

5 Professor in Radiology and Medical and

6 Molecular Genetics

7 Indiana University School of Medicine

8 Indiana Alzheimer's Disease Center

9 Indianapolis, Indiana

10

11 **Dawndra Jones, RN, DNP**

12 *(Consumer Representative)*

13 Chief Nursing Officer, VP Patient Care Services

14 University of Pittsburgh Medical Center

15 Magee Womens Hospital

16 McKeesport, Pennsylvania

17

18

19

20

21

22

1 **Thomas J. Montine, MD, PhD**

2 *(Chairperson)*

3 Chair, Department of Pathology

4 Stanford Medicine Endowed Professor

5 Stanford University School of Medicine

6 Stanford, California

7

8 **PERIPHERAL AND CENTRAL NERVOUS SYSTEM DRUGS**

9 **ADVISORY COMMITTEE MEMBER (Non-Voting)**

10 **Michael Gold, MS, MD**

11 *(Industry Representative)*

12 Vice-President, Neurosciences Development

13 AbbVie

14 North Chicago, Illinois

15

16 **TEMPORARY MEMBERS (Voting)**

17 **Kenneth Fischbeck, MD**

18 NIH Distinguished Investigator

19 National Institute of Neurological Disorders and

20 Stroke, National Institutes of Health (NIH)

21 Bethesda, Maryland

22

1 **Dean Follmann, PhD**

2 Assistant Director for Biostatistics

3 National Institute of Allergy and

4 Infectious Diseases

5 National Institutes of Health

6 Bethesda, Maryland

7

8 **Avindra Nath, MD**

9 Clinical Director

10 National Institute of Neurological Disorders and

11 Stroke, National Institutes of Health

12 Bethesda, Maryland

13

14 **Bryan J. Traynor, MD, PhD**

15 Senior Investigator

16 National Institute for Ageing

17 National Institutes of Health

18 Bethesda, Maryland

19

20

21

22

1 **Mark Weston**

2 *(Patient Representative)*

3 Person with Amyotrophic Lateral Sclerosis (ALS)

4 Lakewood, Colorado

5

6 **FDA PARTICIPANTS (Non-Voting)**

7 **Billy Dunn, MD**

8 Director

9 Office of Neuroscience (ON)

10 Office of New Drugs (OND), CDER, FDA

11

12 **Teresa Buracchio, MD**

13 Director

14 Division of Neurology 1

15 ON, OND, CDER, FDA

16

17 **Emily Freilich, MD**

18 Cross Discipline Team Leader

19 Division of Neurology 1

20 ON, OND, CDER, FDA

21

22

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order	
4	Thomas Montine, MD	9
5	Introduction of Committee	
6	Jessica Seo, PharmD, MPH	9
7	Conflict of Interest Statement	
8	Jessica Seo, PharmD, MPH	15
9	FDA Introductory Remarks	
10	Teresa Buracchio, MD	18
11	Applicant Presentations - Amylyx	
12	Introduction	
13	Justin Klee	37
14	Joshua Cohen	41
15	Clinical Trials in ALS	
16	Jeremy Shefner, MD, PhD	44
17	Benefit/Risk	
18	Jamie Timmons, MD	51
19	Clinical Perspective	
20	Sabrina Paganoni, MD, PhD	67
21	Clarifying Questions to the Applicant	73
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	FDA Presentations	
4	FDA Summary Presentations	
5	Emily Freilich, MD	101
6	Tristan Massie, PhD	123
7	Emily Freilich, MD	139
8	Clarifying Questions to FDA	141
9	Open Public Hearing	165
10	Clarifying Questions (continued)	249
11	Questions to the Committee and Discussion	282
12	Adjournment	301
13		
14		
15		
16		
17		
18		
19		
20		
21		
22		

P R O C E E D I N G S

(10:00 a.m.)

Call to Order

DR. MONTINE: Good morning and welcome. I wish to first remind everyone to please mute your line when you're not speaking. For media and press, the FDA press contact is April Grant. Her email and phone number are currently displayed.

My name is Tom Montine. I'm a professor at Stanford University, and I'll be chairing this meeting. I now call to order the March 30, 2020 Peripheral and Central Nervous System Drugs Advisory Committee. Dr. Jessica Seo is the designated federal official for this meeting and will begin with the introductions.

Introduction of Committee

DR. SEO: Good morning. My name is Jessica Seo, and I am the designated federal officer for this meeting. All voting members have confirmed via email that they have viewed the prerecorded presentation for today's meeting in their entirety. When I call your name, please introduce yourself by

1 stating your name and affiliation, and "I confirm."

2 We'll begin with Dr. Caleb Alexander.

3 DR. R. ALEXANDER: Good morning. This is
4 Robert Alexander from the Banner Alzheimer's
5 Institute in Phoenix, and I confirm. Thank you.

6 DR. SEO: Thank you, Dr. Robert Alexander.

7 I'll go back to Dr. Caleb Alexander. Please
8 state your name and affiliation, please.

9 (No response.)

10 DR. SEO: Dr. Alexander, you may be muted.

11 DR. C. ALEXANDER: Good morning. This is
12 Caleb Alexander. I'm a practicing general
13 internist and professor of epidemiology and
14 medicine at the Johns Hopkins Bloomberg School of
15 Public Health.

16 DR. SEO: Thank you, sir.

17 Next we have Dr. Apostolova.

18 DR. APOSTOLOVA: Hello. This is Liana
19 Apostolova. I'm a professor in neurology at the
20 Indiana School of Medicine, the Indiana University
21 School of Medicine, and I confirm.

22 DR. SEO: Thank you.

1 Dr. Gould?

2 DR. GOULD: Good morning. This is
3 Dr. Michael Gould. I'm the non-voting industry
4 representative, and I confirm.

5 DR. SEO: Thank you, sir.

6 Dr. Jones?

7 DR. JONES: Good morning. I am Dawndra
8 Jones, and I'm the chief officer and vice president
9 of patient services at [inaudible - audio gap].

10 DR. SEO: Thank you.

11 Dr. Montine?

12 DR. MONTINE: Good morning. My name is Tom
13 Montine. I'm a professor at Stanford University,
14 and I confirm.

15 DR. SEO: Thank you.

16 Dr. Fischbeck?

17 DR. FISCHBECK: Hi. This is Kenneth
18 Fischbeck. I'm a neurologist in the National
19 Institute of Neurological Disorders and Stroke at
20 the NIH in Bethesda, and I confirm.

21 DR. SEO: Thank you.

22 Dr. Follmann?

1 DR. FOLLMANN: Yes. Good morning. My name
2 is Dean Follmann. I'm head of biostatistics at the
3 National Institute of Allergy and Infectious
4 Diseases, and I confirm.

5 DR. SEO: Thank you.

6 Dr. Nath?

7 DR. NATH: Hi. This is Avi Nath. I'm the
8 clinical director of the National Institute of
9 Neurological Disorders and Stroke at NIH, and I
10 confirm.

11 DR. SEO: Thank you.

12 Dr. Traynor?

13 DR. TRAYNOR: Hi. My name is Bryan Traynor.
14 I'm a senior investigator at the National Institute
15 on Aging at NIH, and I confirm.

16 DR. SEO: Thank you.

17 Next, Dr. Weston?

18 MR. WESTON: Good morning. My name is Mark
19 Weston. I am the patient representative and a
20 voting member of today's advisory committee
21 meeting.

22 Briefly, my qualifications are that I was

1 diagnosed with sporadic limb onset ALS in October
2 of 2019. Diagnosis was confirmed in December of
3 2019. My symptoms, however, given the benefit of
4 20/20 hindsight, may have begun as long ago as late
5 2017, more than 4 years ago, and I confirm.

6 DR. SEO: Thank you, sir.

7 We now have the FDA participants. I will
8 begin with Dr. Dunn. Please introduce yourself.

9 DR. DUNN: Yes. My name is Billy Dunn. I'm
10 the director of the Office of Neuroscience at FDA.

11 DR. SEO: Thank you.

12 Dr. Buracchio?

13 DR. BURACCHIO: Hi. I'm Teresa Buracchio.
14 I'm director of the Division of Neurology 1 at FDA.

15 DR. SEO: And Dr. Freilich?

16 DR. FREILICH: Hi. This is Emily Freilich.
17 I'm the cross-disciplinary team lead in the
18 Division of Neurology 1 at FDA. Thank you.

19 DR. SEO: I'll hand it back to you,
20 Dr. Montine.

21 DR. MONTINE: Thank you, Jessica.

22 For topics such as those being discussed at

1 today's meeting, there are often a variety of
2 opinions, some of which are held quite strongly.
3 Our goal today is that our meeting will be a fair
4 and open discussion for these issues and that
5 individuals can express their views without
6 interruption. Thus, as a gentle reminder,
7 individuals will be allowed to speak into the
8 record only if recognized by the chair. We look
9 forward to a productive meeting together.

10 In the spirit of the Federal Advisory
11 Committee Act and the Government in the Sunshine
12 Act, we ask that the advisory committee members
13 take care that their conversations about the topic
14 at hand take place in the open forum of the
15 meeting.

16 We are aware that members of the media are
17 anxious to speak with the FDA about these
18 proceedings, however, FDA will refrain from
19 discussing the details of this meeting with the
20 media until its conclusion. Also, the committee is
21 reminded to please refrain from discussing the
22 meeting topic during breaks or lunch. Thank you.

1 Now, Dr. Jessica Seo will read the Conflict
2 of Interest Statement for the committee.

3 **Conflict of Interest Statement**

4 DR. SEO: Thank you, Dr. Montine.

5 The Food and Drug Administration, or FDA, is
6 convening today's meeting of the Peripheral and
7 Central Nervous System Drugs Advisory Committee
8 under the authority of the Federal Advisory
9 Committee Act of 1972. With the exception of the
10 industry representative, all members and temporary
11 voting members of the committee are special
12 government employees, or SGEs, or regular federal
13 employees from other agencies and are subject to
14 federal conflict of interest laws and regulations.

15 The following information on the status of
16 this committee's compliance with federal ethics and
17 conflict of interest laws, covered by but not
18 limited to those found at 18 U.S. Code Section 208,
19 is being provided to participants in today's
20 meeting and to the public.

21 FDA has determined that members and
22 temporary voting members of this committee are in

1 compliance with federal ethics and conflict of
2 interest laws. Under 18 U.S. Code Section 208,
3 Congress has authorized FDA to grant waivers to
4 special government employees and regular federal
5 employees who have potential financial conflicts
6 when it is determined that the agency's need for a
7 special government employee's services outweighs
8 his or her potential financial conflict of interest
9 or when the interest of a regular federal employee
10 is not so substantial as to be deemed likely to
11 affect the integrity of the services which the
12 government may expect from the employee.

13 Related to the discussions of today's
14 meeting, members and temporary voting members of
15 this committee have been screened for potential
16 financial conflicts of interests of their own as
17 well as those imputed to them, including those of
18 their spouses or minor children and, for purposes
19 of 18 U.S. Code Section 208, their employers.
20 These interests may include investments;
21 consulting; expert witness testimony; contracts,
22 grants, CRADAs; teaching, speaking, writing;

1 patents and royalties; and primary employment.

2 Today's agenda involves a discussion of new
3 drug application 216660 for sodium phenylbutyrate
4 and taurursodiol, known as AMX0035, powder for oral
5 suspension, submitted by Amylyx Pharmaceuticals,
6 Incorporated, for the treatment of amyotrophic
7 lateral sclerosis or ALS.

8 This is a particular matters meeting during
9 which specific matters related to Amylyx
10 Pharmaceuticals' NDA will be discussed. Based on
11 the agenda for today's meeting and all financial
12 interests reported by the committee members and
13 temporary voting members, no conflicts of interest
14 have been issued in connection with this meeting.
15 To ensure transparency, we encourage all standing
16 committee members and temporary voting members to
17 disclose any public statements that they have made
18 concerning the product at issue.

19 With respect to FDA's invited industry
20 representative, we would like to disclose that
21 Dr. Michael Gould is participating in this meeting
22 as a non-voting industry representative acting on

1 behalf of regulated industry. Dr. Gould's role at
2 this meeting is to represent industry in general
3 and not any particular company. Dr. Gould is
4 employed by AbbVie.

5 We would like to remind members and
6 temporary voting members that if the discussions
7 involve any other product or firms not already on
8 the agenda for which an FDA participant has a
9 personal or imputed financial interest, the
10 participants need to exclude themselves from such
11 involvement, and their exclusion will be noted for
12 the record. FDA encourages all other participants
13 to advise the committee of any financial
14 relationships that they may have with the firm at
15 issue. Thank you.

16 DR. MONTINE: Thank you, Jessica.

17 We will now proceed with the FDA
18 introductory remarks from Dr. Teresa Buracchio.

19 **FDA Introductory Remarks - Teresa Buracchio**

20 DR. BURACCHIO: Thank you, Dr. Montine.

21 Welcome to our committee members and guests
22 who are joining us for this important meeting. I

1 would like to thank the committee for the time that
2 they have taken from their busy work schedule to
3 review the advance materials and for joining us
4 today to discuss the important topics that are
5 under consideration for this application. We
6 greatly value your perspectives and input.

7 I would also like to thank the public
8 attendees, and especially the ALS patients who are
9 joining us today. Your attendance and commitment
10 to finding a treatment for ALS are immensely
11 appreciated. For those of you who will address the
12 committee later today, or have provided written
13 comments for the committee, we look forward to and
14 are deeply appreciative of your input.

15 We are here today to discuss the development
16 of AMX0035, also referred to as sodium
17 phenylbutyrate, taurursodiol, for the treatment of
18 patients with ALS. We at FDA appreciate our
19 interactions with the ALS patient community. We
20 have been very engaged with the ALS patient
21 community, along with scientific and advocacy
22 leaders in this area, and we have benefited

1 enormously from the perspectives that have been
2 shared with us.

3 We recognize that ALS is a devastating
4 disease that takes a punishing toll on the patients
5 and their loved ones. Although there are approved
6 therapies, we also recognize that there is an
7 urgent unmet need for therapies that slow or stop
8 this relentless disease. We have also heard from
9 many patients that they consider even small
10 treatment benefits to be meaningful in this
11 terrible disease, and that their tolerance for risk
12 is high.

13 I want to assure both the ALS community and
14 the committee that we at the FDA have heard the
15 concerns of the ALS community and are responsive to
16 them. I also want to assure the committee that
17 although the applicant and FDA have different views
18 on the data that will be discussed today, we have
19 always considered the context of the unmet need in
20 ALS with this application and in the regulatory
21 interactions that have preceded this submission.

22 In the neurology divisions, a substantial

1 portion of the development programs that we oversee
2 are for severely debilitating and progressive
3 neurodegenerative diseases that have similar
4 concerns, so these issues are not unfamiliar to us
5 and we are mindful of them. We make a point to
6 consider this context in all our interactions with
7 sponsors throughout the development process.

8 I would like to explicitly note that our
9 concerns with this application described in the
10 briefing package and recorded presentation, and
11 that will be further discussed today, exist even
12 with the recognition that ALS is a rare disease
13 with a relentlessly progressive course that has an
14 enormous unmet medical need. Even in the face of
15 these needs, we have concerns about the analyses
16 and the interpretation of the data from a single
17 small clinical trial, and whether these data
18 provide substantial evidence of effectiveness of
19 AMX0035.

20 Before describing some of the issues we will
21 ask you to discuss today, I want to stress that we
22 have not made any final decisions on the

1 approvability of this application. Our comments in
2 the background package are preliminary and do not
3 yet take into account today's proceeding. Our
4 concern should not be viewed as necessarily
5 indicative of our final decision. The reason we
6 are here today is to gain your input into some of
7 the challenging issues we have faced during our
8 review process so that we may incorporate it into
9 our decision on approvability.

10 I will now provide some background on the
11 development program for AMX0035 and the issues for
12 discussion that bring us here today. AMX0035 is a
13 fixed combination of sodium phenylbutyrate and
14 taurursodiol. The applicant theorizes that AMX0035
15 reduces endoplasmic reticulum stress and improves
16 mitochondrial function and energy production.

17 The division notes that dysfunction of the
18 endoplasmic reticulum and mitochondria are some of
19 many potential processes hypothesized to be
20 involved in the pathophysiology of ALS, however,
21 the pathophysiology of ALS remains unknown.

22 The applicant conducted a single 24-week,

1 double-blind, placebo-controlled phase 2 study,
2 AMX3500, which the sponsor also called CENTAUR, in
3 137 patients with ALS. The applicant reported a
4 positive result on the primary endpoint, a commonly
5 used functional endpoint in ALS, the ALS Functional
6 Rating Scale-Revised, which is abbreviated as the
7 ALSFRS-R.

8 The use of the ALSFRS-R scale as a primary
9 endpoint is common, but you will hear that we have
10 significant concerns with the appropriateness of
11 the prespecified analysis method. We will also
12 discuss other important factors with the conduct of
13 the design of the study that could have influenced
14 study outcomes and raise concerns regarding the
15 robustness of the study results. It is also
16 important to note that there was no survival
17 benefit reported in the initial 24-week CENTAUR
18 study.

19 After the completion of the study, the
20 applicant asked to meet with the division because
21 the applicant felt that the study results would be
22 capable of supporting a new drug application.

1 After careful detailed review of the sponsor's
2 data, the division noted that the prespecified
3 statistical result was not exceptionally persuasive
4 and that there were issues with the analysis and
5 robustness of the data.

6 The division noted that the data presented
7 by the sponsor, though promising, did not appear
8 adequate to serve as a single study capable of
9 providing substantial evidence of effectiveness and
10 recommended that a second study would be needed to
11 confirm the study results.

12 The applicant continued to evaluate data
13 from the open-label extension study of CENTAUR.
14 The applicant conducted survival analysis based on
15 data cutoffs of February 2020 and July 2020. These
16 dates were not prespecified in the initial
17 statistical analysis plan for the open-label
18 extension.

19 So the survival analysis plan and subsequent
20 amendment were finalized in March 2020 and August
21 2020, respectively. It should be noted that these
22 statistical analysis plans for survival were

1 finalized after the data cutoff dates that were
2 proposed in the analysis plan and after the results
3 of the randomized phase of the CENTAUR study were
4 known. It's also notable that the results of the
5 February 2020 analysis were known at the time of
6 the July 2020 analysis.

7 The February 2020 analysis was conducted to
8 support a meeting request to FDA and showed a
9 non-significant trend for a survival benefit in
10 patients who had received AMX0035 in the randomized
11 trial compared to patients who had received placebo
12 in the trial.

13 The results of the July 2020 analysis were
14 reported to show the nominally significant apparent
15 survival benefit in patients who received AMX0035
16 in the randomized trial compared to those who
17 received placebo. The results in the July 2020
18 cutoff date were subsequently reported and
19 published.

20 The applicant met with the division once
21 again to discuss whether the study results from the
22 CENTAUR study and the open-label extension could be

1 capable of supporting a new drug application. The
2 division noted multiple concerns with the
3 interpretability of the reported survival benefit
4 that was assessed using a variety of composite
5 endpoints and death alone.

6 These concerns included the small sample
7 size; the large number of dropouts in the open-
8 label extension period; the inclusion of
9 tracheostomies in hospitalizations that are not
10 equivalent to death in the composite survival
11 outcomes; and the multiple survival analyses which
12 had previously been reported as negative.

13 A spurious finding on the survival analysis
14 could not be ruled out. The division again
15 recommended that a second study would be needed to
16 confirm these results. It is critical to note that
17 the applicant has recently initiated a phase 3
18 study in 600 patients worldwide. It is currently
19 enrolling and is expected to complete in late 2023.
20 The division continues to feel that this study is
21 crucial for the assessment of the efficacy of
22 AMX0035 in ALS.

1 Although the concerns with the data and
2 analyses from the randomized trial and open-label
3 extension remain, the division continued to
4 consider the reported survival benefit on the
5 endpoint of death alone and felt that the data
6 should be assessed in the context of an application
7 review, including discussion with this committee.
8 The division subsequently invited the applicant to
9 submit an NDA prior to completion of the ongoing
10 phase 3 study to allow for this review and
11 discussion.

12 I would like to note that we have no
13 substantial concerns with the safety of AMX0035,
14 and so our discussion today will focus on whether
15 the efficacy data are adequate to conclude that
16 AMX0035 is effective for the treatment of ALS. To
17 inform this discussion, I would now like to briefly
18 describe the approval standards for establishing
19 the effectiveness of a drug.

20 As required by law, FDA must determine that
21 there is substantial evidence of effectiveness for
22 AMX0035 for approval. The term "substantial

1 evidence" was carefully defined in Section 505(b)
2 of the Food, Drug, and Cosmetic Act as evidence
3 consisting of adequate and well-controlled
4 investigations conducted to evaluate the
5 effectiveness of the drug on the basis of which it
6 could fairly and responsibly be concluded by
7 experts that the drug will have the effect it is
8 purported to have under the conditions of use
9 described in the labeling.

10 Adequate and well-controlled investigations
11 are further defined in FDA regulations as having
12 various characteristics, one of which is the use of
13 a design that permits a valid comparison with a
14 control to provide a quantitative assessment of
15 drug effect.

16 It has long been FDA's position that
17 Congress generally intended to require at least two
18 adequate and well-controlled studies, each
19 convincing on its own to establish effectiveness.
20 The usual requirement for more than one adequate
21 and well-controlled investigation reflects the need
22 for independent substantiation of experimental

1 results.

2 There may be unanticipated, undetected
3 systematic biases in any clinical trial. These
4 biases may occur despite the best intentions of
5 sponsors and investigators and may lead to flawed
6 conclusions. Independent substantiation protects
7 against the possibility that a chance occurrence of
8 a favorable result in a single study will lead to
9 an erroneous conclusion that a treatment is
10 effective.

11 There are circumstances in which FDA may
12 rely on something less than at least two adequate
13 and well-controlled studies. In 1997, the FDA
14 Modernization Act, or FDAMA, amended Section 505(b)
15 of the Food, Drug, and Cosmetic Act to make it
16 clear that FDA may consider data from one adequate
17 and well-controlled clinical investigation and
18 confirmatory evidence to constitute substantial
19 evidence, provided that FDA determines that such
20 data and evidence are sufficient to establish
21 effectiveness.

22 In the 2019 FDA draft guidance demonstrating

1 substantial evidence of effectiveness for human
2 drug and biological products, further details are
3 provided on the circumstances under which one
4 adequate and well-controlled study plus
5 confirmatory evidence may be capable of providing
6 substantial evidence of effectiveness.

7 Factors that FDA may consider relevant to
8 such a situation include the persuasiveness of the
9 single trial; the robustness of the confirmatory
10 evidence; the seriousness of the disease and
11 whether there is an unmet need; the size of the
12 patient population; and whether it is ethical and
13 practicable to conduct more than one adequate and
14 well-controlled clinical investigation.

15 As described in the guidance, confirmatory
16 evidence may include data from adequate and
17 well-controlled clinical studies that demonstrate
18 the effectiveness of the drug in a closely related
19 approved indication; data that provides strong
20 mechanistic support of the drug and the
21 pathophysiology of the disease; data from a
22 well-documented natural history of the disease can

1 also potentially reinforce very persuasive and
2 compelling results from a single adequate and
3 well-controlled study; and finally, scientific
4 knowledge about the effectiveness of other drugs in
5 the same pharmacological class.

6 The 2019 guidance provides further details
7 on when a single study alone may be capable of
8 independently providing substantial evidence of
9 effectiveness. In such cases, the large adequate
10 and well-controlled multicenter trial can be
11 considered both scientifically and legally to be,
12 in effect, multiple trials, and can be relied on to
13 provide substantial evidence of effectiveness.

14 The characteristics of such a trial include
15 the trial is large and multicenter; no single trial
16 site is the main contributor to the observed
17 effect; there are consistent clinically meaningful
18 effects and distinct prospectively specified
19 endpoints; there is consistency of the finding
20 across important patient subgroups; and the design
21 or analysis in the study may allow for multiple
22 comparisons such that there may be multiple studies

1 contained within a single study. Such
2 characteristics serve to increase the reliability
3 of the reported findings and might allow the
4 results of the single study to provide substantial
5 evidence of effectiveness.

6 The guidance also states that reliance on a
7 single large multicenter trial to establish
8 effectiveness should generally be limited to
9 situations in which the trial has demonstrated a
10 clinically meaningful and statistically very
11 persuasive effect on mortality, severe or
12 irreversible morbidity or prevention of a disease
13 with potentially serious outcomes, and confirmation
14 of the result in a second trial would be
15 impractical or unethical.

16 Because of the inherent vulnerabilities
17 involved in reliance on a single study, it is
18 critical that the possibility of an incorrect
19 outcome be considered and that all the available
20 data be examined for their potential to either
21 support or undercut reliance on a single trial.

22 The statutory standards for effectiveness

1 apply to drugs developed for ALS just as the
2 standards apply for all drugs of development.
3 However, FDA recognizes that it may be appropriate
4 to exercise regulatory flexibility in applying the
5 statutory standards to drugs intended to treat
6 serious diseases with unmet medical needs while
7 preserving the appropriate assurance of safety and
8 effectiveness.

9 Much of the discussion today will focus on
10 study conduct issues and detailed statistical
11 considerations of the analyses of the study
12 endpoints. These comments may appear quite
13 technical and perhaps even nitpicky at times, but
14 it is important to recognize that it is the job of
15 the FDA to probe and critically appraise the data
16 to ensure that the quality and robustness of the
17 data are adequate to meet the standards for
18 substantial evidence of effectiveness that I have
19 described.

20 I would once again like to assure the
21 committee and ALS community that as we conduct our
22 reviews, we continue to keep in mind the context

1 that ALS is a rare devastating disease with an
2 enormous unmet medical need, however, it is of
3 vital importance and it is legally required for FDA
4 to ensure that drugs are both effective and safe
5 for approval.

6 The division recognizes that the findings
7 with AMX0035 in ALS appear promising, however, we
8 have considerable concerns that the data may not be
9 sufficiently robust to meet the approval standard
10 for substantial evidence of effectiveness, and that
11 is why we are bringing this application to the
12 committee today. It is also critically important
13 for the committee to consider how the results of
14 the ongoing phase 3 study could potentially impact
15 the assessment of substantial evidence of
16 effectiveness.

17 Today you will hear presentations from
18 various members of the review team outlining our
19 concerns with this application resulting from the
20 review of the evidence provided by the applicant to
21 support the effectiveness of AMX0035.

22 Following my remarks, you will hear

1 presentations from the applicant's team, and you
2 will have a chance to ask clarifying questions.
3 After a short break, we will reconvene with
4 presentations from the FDA. Dr. Emily Freilich,
5 the cross-discipline team leader for this
6 application in the Division of Neurology 1, and
7 Dr. Tristan Massie, the reviewer with the Office of
8 Biostatistics, will provide an overview of the
9 multidisciplinary team's findings and concerns
10 regarding the AMX0035 application. You will again
11 have the chance to ask clarifying questions.

12 After a break for lunch, we will have the
13 open public hearing followed by discussion and
14 questions to the committee. Again, no final
15 decision has been made on approvability and we very
16 much look forward to the insights you will provide.
17 We have convened this committee because we feel
18 that a final decision requires your input and
19 advice. Thank you for the effort you have made in
20 preparing for and attending this meeting, and thank
21 you for the important work you will do today.

22 Dr. Montine, thank you for the time to offer

1 my comments, and I return the proceedings to you.

2 DR. MONTINE: Thank you, Dr. Buracchio. I
3 will read comments, and then we'll proceed.

4 Both the FDA and the public believe in a
5 transparent process for information gathering and
6 decision making. To ensure such transparency at
7 the advisory committee meeting, FDA believes that
8 it is important to understand the context of an
9 individual's presentation.

10 For this reason, FDA encourages all
11 participants, including the applicant's
12 non-employee presenters, to advise the committee of
13 any financial relationships that they may have with
14 the sponsor such as consulting fees, travel
15 expenses, honoraria, and interest in the sponsor,
16 including equity interests and those based upon the
17 outcome of today's meeting.

18 Likewise, FDA encourages you at the
19 beginning of your presentation to advise the
20 committee if you do not have any such financial
21 relationships. If you choose not to address the
22 issue of financial relationships at the beginning

1 of your presentation, it will not preclude you from
2 speaking.

3 We will now proceed with a summary
4 presentation from Amylyx.

5 **Applicant Presentation - Justin Klee**

6 MR. KLEE: Good morning, and thank you all
7 for your time today. My name is Justin Klee, and
8 I'm the co-CEO and co-founder of Amylyx
9 Pharmaceuticals. With me is my fellow co-CEO and
10 co-founder, Joshua Cohen.

11 Thank you to the chair, members of the
12 panel, and members of the ALS community who have
13 joined to share their perspectives today, and a
14 huge thank you to the thousands of people who have
15 partnered with us to reach this milestone,
16 including hundreds of people with ALS and their
17 families, many of whom are sadly no longer with us.
18 We also want to thank the FDA for inviting us to
19 submit an NDA with priority review and for their
20 consideration of the great unmet need for people
21 with ALS.

22 The discussion today will center around the

1 evidence supporting the effectiveness of AMX0035
2 for the treatment of ALS. The FDA has importantly
3 addressed the context for the discussion and has
4 long stressed the appropriateness of exercising
5 regulatory flexibility in applying the statutory
6 standards to drugs for serious diseases with unmet
7 medical needs.

8 As we sought to evaluate the potential
9 effectiveness of AMX0035 for the treatment of ALS,
10 we recognized the challenges that face clinical
11 development in a rare, rapidly progressive, fatal
12 disease such as ALS. We therefore sought to
13 partner with leaders in the field of ALS research
14 and clinical trials, the design, conduct, and
15 analysis of the study of AMX0035 in people with
16 ALS. This partnership formed the CENTAUR trial
17 we'll be reviewing with you today.

18 The CENTAUR trial was conducted through the
19 NEALS at 25 top ALS centers of excellence across
20 the United States, with coordination through the
21 Neurological Clinical Research Institute at Mass
22 General and Outcomes Training and Monitoring

1 through the center at the Barrow Neurological
2 Institute. The CENTAUR study was designed to be
3 both robust and patient-centric. A 24-week
4 randomized-controlled phase was designed and
5 statistically powered to evaluate functional
6 progression.

7 An open-label extension was designed to
8 allow for crossover and long-term treatment and to
9 evaluate long-term safety as well as efficacy
10 outcomes on key ALS measures. The trial started in
11 June of 2017, the randomized-controlled phase
12 completed in August of 2019, and the open-label
13 extension completed in March 2021.

14 The principal investigators of the CENTAUR
15 study are some of the top ALS researchers in the
16 world. Dr. Merit Cudkowicz is the Julieanne Dorn
17 Professor of Neurology at Harvard Medical School
18 and is the chief of neurology at Mass General
19 Hospital. Dr. Cudkowicz served as the co-PI and
20 senior clinical advisor for the CENTAUR study and
21 was integral to all aspects of its design and
22 execution.

1 Dr. Sabrina Paganoni, who you will hear from
2 today, is an associate professor at Harvard Medical
3 School and the co-director of the MGH Neurological
4 Clinical Research Institute. Dr. Paganoni served
5 as the principal investigator of the CENTAUR study.

6 The mixed effects model used for the
7 clinical outcomes was developed by Dr. David
8 Schoenfeld, professor emeritus at Harvard Medical
9 School and the most cited statistician in the field
10 of ALS clinical trials.

11 You will also hear today from Dr. Jeremy
12 Shefner, the Kemper and Ethel Marley Professor and
13 chief of neurology at the Barrow Neurological
14 Institute. Dr. Shefner and his team oversaw the
15 outcomes training and monitoring for the CENTAUR
16 study.

17 While running clinical trials in ALS is
18 challenging, the design, method, and execution of
19 the CENTAUR study were done in partnership with
20 leaders in the field of ALS research and used the
21 best tools available to ensure a robust and
22 clinically meaningful results. One example of this

1 is the choice of our primary analysis method.

2 The FDA raised the use of joint rank as
3 their preferred methodology for this study. While
4 the joint rank analysis can account for deaths, it
5 also has limitations. In 2016 and 2019, we and Dr.
6 Schoenfeld, who is the co-inventor of the joint
7 rank method, wrote to the FDA sharing why the mix
8 effects model was more appropriate as a primary
9 model and, with Dr. Suzanne Hendrix as well, who is
10 here today, we described our proposed sensitivity
11 model to handle deaths. We did not hear back from
12 FDA after our response in 2016, nor our response in
13 2019, and assumed it was okay to proceed.

14 I will now turn to my fellow co-founder and
15 co-CEO, Josh, to briefly discuss the results of the
16 CENTAUR study.

17 **Applicant Presentation - Joshua Cohen**

18 MR. COHEN: Thanks, Justin.

19 The CENTAUR trial met its prespecified
20 primary endpoint, slowing the progression of
21 functional decline, using the most widely used
22 clinical scale in ALS, the ALSFRS-R. We were very

1 proud to publish with our colleagues the results of
2 the 24-week, randomized phase in the New England
3 Journal of Medicine in September of 2020. AMX0035
4 also showed a statistically significant benefit in
5 overall survival, extending the lives of those who
6 received AMX0035. This data was published in
7 Muscle & Nerve in October of 2020.

8 In a disease where neuromuscular
9 degeneration leads to functional loss and death,
10 one would wish to see a slowing of progression that
11 leads to an increase in survival time. This is the
12 first time treatment has shown a benefit on both
13 function and survival in ALS.

14 AMX0035 showed a good safety profile with
15 numerically fewer serious adverse events in the
16 treatment arm as compared with placebo. While
17 these results are important for people with ALS, it
18 is also important to continue to study AMX0035.

19 With Dr. Sabrina Paganoni here in the U.S.
20 and Dr. Leonard van den Berg at UMC Utrecht in The
21 Netherlands as co-chairs, we are well underway with
22 recruiting our second placebo-controlled study of

1 AMX0035 in ALS, the PHOENIX trial. The study has
2 so far recruited 150 participants, and we
3 anticipate top-line results in 2024.

4 We wish to reassure the panel that we are
5 working hard to complete this study as
6 expeditiously as possible. The study was designed
7 to primarily recruit in Europe, and therefore an
8 approval of AMX0035 in the U.S. will not prevent
9 completion. But we are here today because the FDA
10 invited us to submit an NDA as quickly as possible,
11 recognizing the urgency needed in this terrible
12 disease. The data from CENTAUR supports the case
13 for effectiveness in ALS with a favorable safety
14 profile.

15 This presentation will focus on the
16 robustness of the CENTAUR study; how the study was
17 designed and conducted; the prespecified primary
18 outcome of function and statistical considerations
19 around its interpretation; the long-term survival
20 benefit; and what these results may mean for people
21 living with this devastating disease. Dr. Shefner
22 and Dr. Paganoni have also joined us today to share

1 their perspectives.

2 We have additional experts with us as well
3 today. All outside experts have been compensated
4 for their time preparing for today's meeting.
5 Thank you very much for your time and for the
6 opportunity to introduce ourselves. I'll now turn
7 the presentation over to Dr. Shefner.

8 **Applicant Presentation - Jeremy Shefner**

9 DR. SHEFNER: Good morning. I'm a
10 neurologist and neuromuscular specialist, and I'm
11 chair of neurology at the Barrow Neurological
12 Institute. I've had a long interest in ALS
13 research and clinical care and have been involved
14 in running clinical trials in ALS for more than
15 30 years. In 1996, I co-founded the NEALS
16 Consortium with Dr. Cudkowicz.

17 Today I want to talk about several issues
18 related to the design and analysis of ALS clinical
19 trials. As you all know, ALS is a devastating
20 disease for both patients and caregivers to live
21 with. It's also a complex disease to study in
22 clinical trials. Patients are tremendously

1 variable in the rate with which they progress,
2 which in the past has mandated large trials to
3 maintain sufficient statistical power.

4 Because of disease progression, trial
5 volunteers have increasing difficulty in traveling
6 to clinical trial sites, and dropouts and deaths
7 and related missing data are a concern. An
8 analysis of over 50 previous ALS clinical trials
9 found that the historical dropout in ALS studies is
10 22 percent, highlighting the challenge of running
11 clinical trials in a rapidly progressive fatal
12 disease. The dropout rate in the CENTAUR study
13 that we're talking about today is within the range
14 of these previous studies. Finally, we're limited
15 by the fact that there are no validated
16 treatment-sensitive biomarkers.

17 The ALS investigator community has discussed
18 these issues extensively, both internally and with
19 the FDA. These discussions have led to the
20 publication of the 2019 Airlie House Revised
21 Consensus Guidelines, as well as the FDA ALS
22 guidance for industry, which was also published in

1 2019. Both of these documents consider the use of
2 specific inclusion criteria to reduce heterogeneity
3 of disease and to decrease the required sample
4 size. These guidelines also note that both
5 function and survival are important endpoints.

6 When function is assessed, the impact of
7 missing data due to dropout in death should be
8 accounted for. Neither document mandates the use
9 of a specific analysis, as the analysis method
10 depends on the particular study design. The impact
11 of mortality on a functional endpoint can be
12 evaluated as part of the primary analysis or as a
13 sensitivity analysis.

14 Appropriate inclusion criteria are criteria
15 in ALS clinical trial design to balance the
16 evaluation of function and survival. For example,
17 enrolling participants early in the disease course
18 increases the probability of survival throughout
19 the study. Other criteria contribute to increasing
20 homogeneity of disease progression.

21 Inclusion criteria in the CENTAUR study
22 mandated a short duration from symptom onset and

1 the presence of diffuse disease, which prioritized
2 rapid progression but with a high probability to
3 survive the 24-week randomized portion of the
4 study. Investigators were also instructed to only
5 enroll participants they thought would be likely to
6 survive for at least 24 weeks. An open-label
7 extension phase allowed longer term follow-up and
8 evaluation of mortality.

9 I'd like to talk briefly about how
10 functional measures in ALS are measured and
11 analyzed. The ALS Functional Rating Scale-Revised
12 is the most commonly used outcome for measuring
13 disease progression in ALS trials. This scale
14 assesses for functional domains: bulbar function,
15 fine motor abilities, gross motor abilities, and
16 respiratory function.

17 Initially in the context of the NEALS
18 Consortium, but now more globally, my group at the
19 Barrow Neurological Institute has developed a
20 training and certification process for both the
21 ALSFRS-R and other outcomes. Both initial and
22 continuing certification are required. This focus

1 on training and certifications helps to ensure data
2 quality and data consistency. This process was
3 followed for the CENTAUR study.

4 In clinical trials of ALS, we generally find
5 that the progression of the ALSFRS-R is linear over
6 the course of most clinical trials, and analyses
7 generally assume that progression will be linear
8 unless a prespecified sensitivity analysis finds
9 that this assumption is violated. In the CENTAUR
10 study, the linearity assumption was not violated.

11 As you'll recall from my recorded
12 presentation, the decline in the ALSFRS-R in both
13 treatment and placebo groups appears linear by
14 inspection. While there is broad agreement that
15 the ALSFRS-R is the appropriate tool to measure
16 function, there are different methods to analyze
17 this, with the overall goal being to appropriately
18 account for missing data or participant deaths.

19 A shared baseline mixed effect model
20 accomplishes this and is very sensitive to
21 therapeutic response, especially when death is a
22 rare event. In addition to good sensitivity, a

1 shared baseline mixed effects model effectively
2 handles missing data, allows inclusion of important
3 prognostic covariates, and is a clinically
4 meaningful endpoint used in many recent trials.

5 The joint rank test is another method that
6 can be utilized in studies and combines the
7 ALSFRS-R and death into one nonparametric
8 statistics. This analysis has been shown to be
9 less sensitive to therapeutic intervention than
10 other models when participant deaths are sparse and
11 is not as effective as the mixed effects model at
12 accounting for missingness due to dropouts or
13 potential baseline imbalances. As discussed above,
14 deaths can also be assessed in sensitivity
15 analyses.

16 Finally, I want to discuss the standard of
17 care for ALS. There are only two approved
18 treatments, riluzole and edaravone. In the two
19 studies that led to the approval of riluzole,
20 survival was extended approximately 2 to 3 months.
21 The ALSFRS-R had not been developed at the time of
22 this trial, but other functional assessments did

1 not demonstrate efficacy.

2 The study, which led to the approval of
3 edaravone, was a 137-patient study run in Japan and
4 showed a 2.5 point benefit on the ALSFRS-R with
5 almost no deaths in the placebo-controlled
6 component of the trial. The associated open-label
7 extension did not report a difference in survival
8 between the two groups. Based on the need to offer
9 standard of care to participants in a trial, as
10 well as the fact that edaravone was approved and
11 launched during the CENTAUR study, the use of
12 either or both agents was allowed during the
13 conduct of this trial.

14 In summary, disease heterogeneity and
15 dropout rates present challenges in ALS clinical
16 trials. Inclusion criteria can assist in reducing
17 these issues. Similarly, inclusion criteria can
18 maximize patient survival during the randomized
19 follow-up.

20 When a functional endpoint is employed as
21 primary outcome, statistical analyses to account
22 for missing data due to dropout or mortality should

1 be employed to evaluate the impact of missing data
2 due to dropouts or death, and the chosen analyses
3 should be appropriate for the study design.
4 Effective use of the ALSFRS-R requires uniform
5 training and certification, and it's imperative
6 that standard of care is provided to all
7 participants in clinical trials.

8 Thank you. I'll now turn the presentation
9 over to Dr. Timmons.

10 **Applicant Presentation - Jamie Timmons**

11 DR. TIMMONS: Thank you, Dr. Shefner.

12 I'm Jamie Timmons, head of scientific
13 communications at Amylyx. I'm pleased to be here
14 to share our clinical efficacy and safety results
15 and to highlight the positive benefit-risk of
16 AMX0035. Today I will highlight the fundamental
17 aspects of the CENTAUR trial and clinical data that
18 support the effectiveness of AMX0035.

19 CENTAUR was a well-designed and
20 well-executed clinical trial. The prespecified
21 primary outcome was met. AMX0035 treatment
22 resulted in a statistically significant and

1 clinically meaningful 25 percent slowing of disease
2 progression as measured by the gold standard,
3 ALSFRS-R. There was also an ITT overall survival
4 benefit that showed a 4.8-month longer median
5 survival and a 36 percent less risk of death at any
6 specific timepoint in a universally fatal disease.

7 Importantly, on top of these benefits,
8 AMX0035 was well tolerated with no safety concerns.
9 The evidence supports a positive benefit-risk and
10 shows that AMX0035 would give those living with ALS
11 and their families more valuable time.

12 Let's start with key aspects of the CENTAUR
13 study design and execution. As Dr. Shefner
14 reviewed, ALS is a complex disease to study in
15 clinical trials. The CENTAUR trial employed a
16 variety of best practices to ensure a quality
17 study. To allow for a sensitive measurement of
18 function, CENTAUR used inclusion criteria to enroll
19 a homogeneously progressing population that would
20 be expected to live through the end of the 6-month
21 randomized-controlled phase.

22 The gold standard measure for function, the

1 ALSFRS-R, was used to evaluate the primary
2 endpoint. ALSFRS-R evaluations were completed in a
3 well-established and standardized manner. The
4 ALSFRS-R was analyzed using a shared baseline,
5 linear mixed effects model, which is able to handle
6 missing data and accurately assesses treatment
7 differences in studies with few mortality events
8 like CENTAUR. Prespecified sensitivity analyses
9 were performed to account for missing data due to
10 dropouts and participant deaths. Finally, the
11 benefit of AMX0035 was tested on top of standard of
12 care, riluzole and edaravone.

13 The CENTAUR study began in June of 2017 and
14 was conducted in 25 centers around the United
15 States with two phases, a randomized-controlled
16 phase and an open-label phase. 137 participants
17 were randomized 2 to 1 to receive AMX0035 plus
18 standard of care or placebo plus standard of care,
19 respectively. Participants who completed the
20 randomized-controlled phase on study drug were
21 allowed to enter the open-label phase and receive
22 AMX0035. Investigators and participants were

1 blinded to study treatment throughout CENTAUR.

2 The open-label phase ended on March 1, 2021,
3 as the number of participants remaining in the
4 study were less than 20, and it was growing
5 increasingly complex to monitor participants due to
6 the COVID-19 pandemic. Remaining participants were
7 transitioned to an extended-use protocol.

8 At the beginning of CENTAUR, a randomization
9 implementation problem was identified and addressed
10 by the unblind statistician. Participants,
11 investigators, and study staff were never unblinded
12 to this error, and after the correction, the 2 to 1
13 active-to-placebo ratio was maintained.

14 The impact of this error was assessed by a
15 sensitivity analysis that excluded affected
16 participants. The results of the sensitivity
17 analysis were similar to the prespecified primary
18 analysis shown at the top of this figure,
19 confirming that this early randomization
20 implementation error did not impact the primary
21 results. It's important to consider any factors
22 that could lead to unblinding in any study. All

1 available evidence indicates that participants and
2 investigators remained blinded throughout the
3 CENTAUR study.

4 AMX0035 has a bitter taste. Placebo was
5 carefully taste matched in the study and included a
6 bittering agent. AMX0035 can also cause GI adverse
7 events. While there are some differences in the
8 incidence of types of GI adverse events between
9 groups, the differences were small, events were
10 generally mild or moderate, and the overall
11 incidence of GI adverse events was similar between
12 the AMX0035 and placebo groups, 66 percent and
13 63 percent, respectively.

14 Based on an exit questionnaire performed at
15 the end of the randomized phase, it asked
16 investigators and participants what treatment arm
17 they were assigned to. Neither study investigators
18 nor participants were able to guess treatment
19 assignment. The active group was not able to guess
20 their treatment assignment any better than chance,
21 indicating that taste and GI adverse events were
22 not leading to unblinding.

1 Finally, as stated earlier, the blinded to
2 original treatment assignment was maintained
3 throughout the entirety of both the randomized and
4 open-label phases. Sites were emailed unblinded
5 treatment information on October 15, 2021, well
6 after the last participant last visit of the
7 open-label phase March 2021.

8 As Dr. Shefner reviewed, there are different
9 acceptable methods to analyze the ALSFRS-R. There
10 is not a one-size-fits-all model, and ALS clinical
11 trials adjust the analysis approach based on study
12 design and objectives. The shared baseline linear
13 mixed effects model used in CENTAUR was an
14 appropriate model for this study. One
15 consideration that led to this choice was the
16 expectation of a limited number of deaths during
17 the randomized-controlled phase, and this is what
18 we saw. There were few deaths during the
19 randomized-controlled phase, 6 percent in the
20 AMX0035 group and 4 percent in the placebo group.

21 The shared baseline linear mixed effects
22 model used in CENTAUR provided a precise estimate

1 of the treatment effect; counted for missing data
2 due to participant dropout; allowed inclusion of
3 important prognostic covariates; and yielded a
4 clinically meaningful result. Additionally,
5 prespecified sensitivity analyses were performed to
6 account for missing data due to dropouts and
7 participant deaths.

8 Now turning to the primary endpoint results,
9 prespecified primary endpoint was met in the
10 randomized-controlled phase. Participants treated
11 with AMX0035 showed a statistically significant
12 slowing of functional decline compared to placebo.

13 The group separated at a rate of 0.42 points
14 per month, which represents a 25 percent slower
15 decline in function for AMX0035 compared to
16 placebo. Importantly, this separation began at
17 week 6 and was sustained to week 24. This effect
18 was seen on top of standard of care riluzole and
19 edaravone. At the end of the randomized-controlled
20 phase, this slowing of functional decline in the
21 group treated with AMX0035 resulted in a 2.32 point
22 benefit on the ALSFRS-R scale.

1 We can evaluate the robustness of the
2 primary endpoint against a number of assumptions,
3 including shared baseline linearity, the impact of
4 missing data due to dropout or participant deaths,
5 and the impacts of concomitant medications. I
6 walked through these different analyses in detail
7 in the recorded presentations, and I'd like to
8 highlight a few of them today, as they address
9 specific points raised by the FDA.

10 First is the assumption of linearity. The
11 statistical analysis plan prespecified criteria
12 under which the quadratic model would be used.
13 Those criteria were not met, so the linear model
14 was appropriately used. However, it's perhaps a
15 bit more intuitive to take a step away from the
16 linear versus quadratic discussion and look at the
17 highlighted sensitivity model, a traditional mixed
18 model with separate means by visit. This model has
19 no assumption of linearity or of a shared baseline
20 and is consistent with the primary results.

21 The impacts of participant death on the
22 primary outcome can be assessed using different

1 methods, the joint rank analysis shown on the next
2 slide and by assigning a worst-case value for the
3 ALSFRS-R, highlighted here. The prespecified
4 left-censored analysis, which adjusts the ALSFRS-R
5 towards a worse outcome for participants who died,
6 and the post hoc worst-case imputation of an
7 ALSFRS-R of zero were both consistent with the
8 primary results.

9 As noted, most participants in the study
10 were taking riluzole or edaravone at baseline. In
11 uncommon cases, participants did initiate these
12 drugs during the study. Results shown here are
13 from a prespecified analysis using a time-dependent
14 covariate and show that the beneficial effect of
15 AMX0035 on the ALSFRS-R was consistent after
16 adjusting for time on each medication during the
17 randomized-controlled phase.

18 Regardless of the assumption tested, the
19 effect size of AMX0035 on the ALSFRS-R remains
20 generally consistent, between 1.9 to 2.9 points,
21 highlighting the robustness of the prespecified
22 primary endpoint results.

1 FDA rates joint rank specifically, so let's
2 look at that next. Shown here are three joint rank
3 analyses using different populations, mITT or ITT,
4 and different assumptions to account for missing
5 ALSFRS-R data. As a reminder, there were a limited
6 number of deaths in the randomized-controlled
7 phase, and they were balanced between groups.
8 These post hoc analyses were consistent with the
9 results of the prespecified primary efficacy
10 analysis and, again, indicate that the primary
11 outcome results are unaffected by including death
12 in the model.

13 Next, the time-to-event results, including
14 ITT overall survival, the time-to-event endpoints,
15 including overall survival, use a cutoff date of
16 March 1, 2021, which corresponds to the overall
17 last participant last visit in the study.
18 Time-to-event analyses compared all participants
19 originally randomized to AMX0035 versus those
20 originally randomized to placebo. The prespecified
21 time-to-event composite endpoint was the time to
22 death; overall survival; first hospitalization and

1 death equivalent; tracheostomy; and permanent
2 assisted ventilation.

3 Data on hospitalizations and death
4 equivalent events were collected systematically via
5 clinic visits for participants in the open-label
6 phase but may not have been collected after
7 dropout. As such, there is some risk of missing
8 data when hospitalization and death equivalent
9 events are included in the composite analysis.

10 The prespecified composite time-to-event
11 outcome was met. As of March 1, 2021, 112 events
12 were captured. That's 82 percent of randomized
13 participants with an event. There was a
14 statistically significant 4.8-month median
15 difference in time to death, first hospitalization,
16 or tracheostomy and permanent assisted ventilation
17 in the group originally randomized to AMX0035
18 compared to the group originally randomized to
19 placebo. The hazard ratio was 0.62 and the p-value
20 was 0.023.

21 Overall incidence of tracheostomy and
22 permanent assisted ventilation was low. Only one

1 participant underwent tracheostomy and initiated
2 permanent assisted ventilation in the
3 randomized-controlled phase, 3 participants
4 underwent tracheostomy, and 10 participants
5 initiated permanent assisted ventilation in the
6 open-label phase.

7 As mentioned earlier, the composite
8 time-to-event outcome has the potential for missing
9 data due to the inability to capture
10 hospitalizations and death equivalent data after
11 dropout. However, the individual overall survival
12 outcome does not have the risk of missing data, as
13 it was possible to capture vital status using both
14 clinic visits and public records.

15 Vital status for all but one originally
16 randomized participant, 136 out of 137, was
17 captured for the overall survival part of the
18 composite outcome. The one participant not
19 captured as of the cutoff date is censored as of
20 their last clinic consult [indiscernible].
21 Survival status was confirmed even on those
22 participants who dropped out of the study through

1 an evaluation of public records, including the
2 Social Security Death Index.

3 Since missing data is not an issue in the
4 overall survival part of the composite outcome, it
5 is informative to look at these individual results
6 in depth. Let's review the overall survival
7 results first in the mITT population.

8 AMX0035 results in an overall survival
9 benefit in the mITT population, showing a
10 significant median survival difference with a
11 hazard ratio of 0.61. While the statistical
12 analysis plan prespecified the mITT population for
13 efficacy outcomes, the ITT population is often
14 considered the most robust population to use for
15 survival outcomes, so let's look at those results
16 now.

17 Recall that this overall survival analysis
18 has essentially no missing data. In this
19 comprehensive analysis, we see a statistically
20 significant median survival difference of
21 4.8 months between those participants originally
22 randomized to AMX0035 compared to those originally

1 randomized to placebo and 36 percent less risk of
2 deaths at any specific timepoint on top of standard
3 of care.

4 At the time of the March 1, 2021 data
5 cutoff, 94 deaths had occurred, representing nearly
6 70 percent of randomized participants. The data
7 shown align to our prespecified model for survival
8 outcomes. Put simply, in a rapidly progressing and
9 universally fatal disease, death is the most
10 definitive outcome, and here we see a significant
11 difference between people randomized to AMX0035 to
12 those randomized to placebo.

13 Composite and individual overall survival
14 outcomes were assessed at three timepoints over
15 long-term follow-up using the comprehensive
16 survival data capture method, February 2020,
17 July 2020, and March 2021. Let's walk through the
18 rationale for each of those cutoffs.

19 February 2020 corresponds to the initial
20 comprehensive longer term survival evaluation after
21 the randomized-controlled phase. This was
22 performed in relation to a March 2020 Type C

1 meeting with the FDA. In July 2020, the longest
2 follow-up was 3 years post-randomization, and
3 approximately 50 percent of participants had died.
4 An interim analysis from this cutoff showing a
5 median survival benefit of 6.5 months was published
6 in Muscle & Nerve.

7 March 2021 corresponds to the last
8 participant last visit in the open-label phase and
9 was requested by the FDA and recommended as the key
10 timepoint for analysis for benefit-risk. The long-
11 term follow-up statistical analysis plan, signed
12 off prior to unbinding, also specifies that the
13 composite time-to-event endpoint would be assessed
14 at the end of the study, so this is the mean
15 analysis for survival. Regardless of cutoff date,
16 the survival benefit for AMX0035 was consistent,
17 showing a hazard ratio between 0.57 and 0.64.

18 Next, a brief review on the safety and
19 tolerability of AMX0035 in the CENTAUR study.
20 Adverse events and deaths were balanced between the
21 treatment and placebo arms. While GI events with
22 AMX0035 occurred more frequently in the first

1 3 weeks of treatment, they generally tapered off to
2 the same level as placebo throughout the rest of
3 the study.

4 There were fewer serious adverse events with
5 AMX0035, and most were related to ALS progression.
6 More adverse events that led to drug withdrawal in
7 the AMX0035 group were related to gastrointestinal
8 symptoms. Overall, AMX0035 was well tolerated with
9 no safety concerns.

10 Moving now to the final assessment of
11 benefit-risk, to close, the evidence supports a
12 positive benefit-risk for AMX0035. There is
13 evidence of efficacy on function and survival on
14 top of standard of care in a rare and rapidly fatal
15 disease with high unmet need. Most notably, the
16 prespecified primary outcome was met. Both our and
17 FDA sensitivity analyses confirm the same thing,
18 general consistency of the results regardless of
19 the assumptions tested, and AMX0035 was generally
20 safe and well tolerated in the CENTAUR study.

21 I'll now turn to Dr. Paganoni to present her
22 clinical perspective.

1 **Applicant Presentation - Sabrina Paganoni**

2 DR. PAGANONI: Thank you, Dr. Timmons.

3 My name is Sabrina Paganoni, and I am the
4 co-director of the Neurological Clinical Research
5 Institute at Mass General. I'm also a physician
6 scientist at the Healey & AMG Center for ALS, and
7 an associate professor at Harvard Medical School.

8 I served as the principal investigator of
9 the AMX0035 CENTAUR trial, and I'm also the
10 co-chair of the steering committee of the ongoing
11 phase 3 trial. I'll try to close the presentation
12 by sharing my clinical perspective on the data that
13 you've seen today.

14 ALS is an awful disease. By the time I
15 diagnose someone with ALS in my clinic, the ALS
16 clock has been ticking for months, and their life
17 expectancy is only about two years. Patients,
18 their family, and I know that they are destined to
19 rapidly lose muscle strength and function.

20 Every time I see one of my patients in
21 clinic, I see the impact of this loss. I see my
22 patients go from walking on their own, using a

1 cane, to using a wheelchair; from breathing on
2 their own, to requiring a BiPap meeting with
3 hospice. Patients tell us that they want to retain
4 their independence, but once the function is lost,
5 it will not be regained. This is why it's
6 important that we start treatment as early as
7 possible to try to preserve the remaining motor
8 neurons and in turn prolong functional independence
9 and survival for as long as possible, and with
10 AMX0035, we see both.

11 I know that today's meeting materials
12 contain a lot of details of the nuances of the
13 statistical models, and I know that different
14 statisticians have different opinions. But at the
15 end of the day, we are talking about the lives of
16 people who have a rapidly progressing and fatal
17 disease.

18 I know that this drug does not stop or
19 reverse the disease -- nothing does -- but we see a
20 positive impact on both function and survival, and
21 these results are valid. The active and placebo
22 arms were well-balanced, meaning that this was a

1 homogeneous CENTAUR group of people who were
2 predicted to have similar outcomes. But when we
3 looked at their outcomes after 6 months, the
4 participants randomized to AMX0035 had a 25 percent
5 slowing of disease progression, which means that
6 people retained physical function for longer.

7 While the secondary endpoints did not reach
8 statistical significance, the results of muscle
9 strength and respiratory capacity were consistent
10 in favor of the active arm, and we know that the
11 measures of muscle strength and respiratory
12 function are limited by potential variability of
13 these measures. This is why the study was powered
14 on the primary outcome or the ALSFRS-R, which had a
15 positive result on the prespecified analysis.

16 Importantly, participants who were
17 originally randomized to AMX0035 lived about
18 5 months longer than people who started on placebo.
19 Of note, we captured vital status, meaning whether
20 the patients were dead or alive, on all but one
21 participant, and the survival analysis was a
22 randomized analysis because we compared the entire

1 group that started on active drug to the entire
2 group randomized to placebo.

3 The impact of AMX0035 on death alone for the
4 composite of death, tracheostomy, and
5 hospitalization was similar. In the U.S., only
6 5 percent of ALS patients choose to receive a
7 tracheostomy, and this practice is consistent
8 across enrolling sites, which were all in the U.S.,
9 so the number of tracheostomy events was quite
10 small. There was consistency in the treatment
11 effect size on the key clinical outcomes of
12 function and survival, and these results were seen
13 on top of standard of care.

14 In a rapidly progressing and universally
15 fatal disease, treatment effects of this magnitude
16 are clinically meaningful and are comparable or
17 better to the already approved treatment for ALS.
18 In fact, this is the first time that we have seen a
19 benefit in both function and survival in an ALS
20 clinical trial.

21 As you have seen from the more than
22 500 comments that have been submitted in response

1 to today's meeting, slowing of disease progression
2 and longer survival are the outcomes that matter to
3 people with ALS. As my patients tell me, longer
4 survival could mean being able to attend their
5 child's graduation or take a last family trip.

6 The choice that is in front of us today is
7 this. Should AMX0035 be approved now to benefit
8 people who need better options? Amylyx is already
9 conducting a phase 3 trial, mostly in Europe, that
10 will supplement the data that we are discussing
11 today. The trial is already up and running, and
12 approval of AMX0035 in the U.S. now will not have a
13 negative effect on the ability to complete the
14 European phase 3 trial.

15 On the other hand, delaying the approval of
16 AMX0035 in the U.S. until we have the phase 3 trial
17 data means that U.S. patients, people living with a
18 rapidly debilitating and fatal disease, will have
19 to wait at least two to three years to get access
20 to a treatment that has shown a benefit with a good
21 safety profile.

22 ALS is a complex disease. If we look at the

1 history of drug development in HIV and MS, we know
2 that the first treatments that were developed for
3 these diseases, they were not curative, but these
4 treatments started to buy time for patients.

5 We're still in the early stages of ALS drug
6 development. As physician investigators, we need
7 to continue to develop more and more effective
8 treatments, including treatment for sporadic ALS
9 and targeted treatments. But as we do so, it is
10 imperative that we use a patient-centric approach.
11 People deserve more function and more time, and
12 here we have a drug with an acceptable safety
13 profile.

14 This is, after all, a question of benefit
15 and risk. Based on the strength of the current
16 efficacy data, the benefit of AMX0035 is clear.
17 Based on the favorable safety profile, the risk of
18 AMX0035 is low. To me, the greatest risk comes
19 from delaying access to a treatment that has
20 demonstrated a significant benefit. If access is
21 delayed, the patients I see in my clinic today may
22 never receive the time and function that they could

1 have had. Delaying access is not a risk that we
2 should take.

3 Thank you for your attention. I will now
4 turn the presentation back to Dr. Timmons to
5 address your questions.

6 **Clarifying Questions to the Applicant**

7 DR. MONTINE: Hello, and thank you to all
8 the speakers from Amylyx. We will now enter our
9 session where we take clarifying questions.

10 A few rules of the road to handle the
11 awkwardness of doing this by teleconference, please
12 press the hand icon to raise your hand if you wish
13 to be acknowledged to speak. When I acknowledge
14 you, please state your name for the record, and if
15 possible, direct your question to a specific
16 speaker, or if it concerns a particular slide in
17 the presentation, if you can, refer to the slide
18 number.

19 After you have had your turn to speak and
20 ask questions or make comments, please signal when
21 you're done either by simply saying, "Thank you" or
22 "That's all for my questions," and then I'll know

1 that we're ready to move on to the next panel
2 member. Then finally, when you're done, please
3 push the hand icon again to lower your hand.

4 Let me see here.

5 Jessica, can I ask you, are we scheduled to
6 take a break now or we're going to proceed with the
7 questions now?

8 DR. SEO: Yes, Dr. Montine. We'll proceed
9 with the clarifying questions for Amylyx for
10 30 minutes.

11 DR. MONTINE: Great. Thank you. My
12 apologies. I will start by just working down the
13 list as I see it.

14 Dr. Nath, would you please take the floor?

15 DR. NATH: Yes. Thank you very much. This
16 question is for the investigators. First, I want
17 to thank them for providing a very comprehensive
18 review and very detailed review of the study.

19 I was wondering in addition to the
20 parameters that they presented here, did they also
21 measure cognitive function in these patients, and
22 what about p75 in the neurofilament light chain,

1 which is other things that are commonly done in ALS
2 studies? Also, how did they measure compliance
3 with the medication? Were drug levels measured in
4 these patients or not?

5 DR. TIMMONS: Dr. Paganoni?

6 DR. PAGANONI: I'll start. Thank you for
7 your question, Dr. Nath. Compliance was very high
8 and was measured by measuring essentially the
9 returned sachets. The compound comes in sachets,
10 and so that was done systematically at the study
11 sites.

12 In terms of your other questions on
13 outcomes, we did not measure cognitive function or
14 p75. We did get plasma samples and measure
15 neurofilament levels, heavy chain.

16 DR. NATH: Well, why not light chain? Did
17 you measure light chain also or not?

18 DR. PAGANONI: We did have plasma samples,
19 and ultimately measured also neurofilament. The
20 decision to start with heavy was because when we
21 started the trial, when we planned the trial in
22 2015-2016, we had a lot of data on neurofilament

1 light in the field, so that's why we went with that
2 first.

3 DR. NATH: So you have data on neurofilament
4 light or you don't have data on neurofilament light
5 to share with us?

6 DR. TIMMONS: This is Dr. Timmons from
7 Amylyx. We do have data on neurofilament light.
8 Similar to what we see with neurofilament heavy
9 chain, we do not see a difference between the
10 placebo and AMX0035 groups.

11 DR. NATH: Alright. Thank you.

12 DR. MONTINE: Thank you, Dr. Nath. If
13 that's the end of your comments and questions,
14 could you please lower your hand? I'll just give
15 you a moment.

16 Great. Thank you.

17 Dr. Follmann, would you please take the
18 floor?

19 DR. FOLLMANN: Yes. Thank you. This is
20 Dean Follmann from NIH. I have four questions. I
21 don't know if I should ask them all now or wait,
22 but let me start with the first two. These relate

1 to slide SP-28 and SP-29, where you go into the
2 details of some of the sensitivity analyses that
3 you did. I had two questions.

4 For death, you have an analysis at so-called
5 left censored. Could you give you more details
6 about that?

7 DR. TIMMONS: Dr. Hendrix?

8 DR. HENDRIX: Good morning. This is
9 Dr. Suzanne Hendrix, and I've worked in clinical
10 trials for over 30 years, and I've specialized in
11 neurodegeneration for the past 19 years,
12 particularly because of the challenges inherent in
13 this field and inherent in the measurement of
14 neurodegenerative outcomes.

15 As far as your question, the left-censored
16 analysis was essentially taking each person who
17 died and taking their last value, and then
18 computing a distribution of scores below that
19 value, and then imputing that several times and
20 averaging across those for the analysis. So it's a
21 multiple imputation method that uses a distribution
22 of worst-case scores based on the person's last

1 observed value.

2 DR. FOLLMANN: So if they died at, say,
3 week 12, and there were like three or four
4 additional measurements that would have been taken
5 [indiscernible] had they stayed alive, you would
6 impute three or four additional measurements?

7 DR. HENDRIX: At the point that they died,
8 we would take their last value observed and then
9 impute several measurements below that, and then
10 take the average of that distribution of scores
11 into the multiple imputation summary.

12 DR. FOLLMANN: But that was for future
13 follow-up times? I mean, you have their score just
14 before they died. You just do an imputation -- you
15 have multiple visits after they pass away --

16 DR. HENDRIX: Yes.

17 DR. FOLLMANN: -- and did you impute values
18 for the multiple visits?

19 DR. HENDRIX: I understand your question.
20 So we imputed only the next scheduled visit using
21 this left-censored distribution for them.

22 DR. FOLLMANN: I see. So it's just one

1 additional imputation; not sort of filling out the
2 complete trajectory of follow-ups, which I guess
3 you didn't do. Then I guess it's similar for
4 death, where you just say, ok, this person died
5 before, say, week 12, so we imputed 0.12, and then
6 you have the missing at random assumption I guess
7 applying after that?

8 DR. HENDRIX: That's correct, although I
9 would like to point out that our primary
10 prespecified model used the linearity assumption.
11 So for each individual, the line was fit with all
12 available data, and then that primary analysis took
13 into account that slope per person and combined it
14 in the overall model so that at the time they
15 dropped out or died, that final value pulls that
16 slope down, and then that's used in the model.

17 DR. FOLLMANN: Okay. Thanks.

18 My next question has to do with SP-29 where
19 you talk about a joint rank analysis. I have an
20 idea what that probably is, but I'm not sure, and
21 probably the committee doesn't as well; so if you
22 could just briefly explain how you rank.

1 DR. HENDRIX: Yes. Suzanne Hendrix again.
2 The goal of the joint rank analysis is to make sure
3 that we don't have more deaths in the active arm
4 that are then causing it to look like we have a
5 functional benefit with the participants who are
6 remaining.

7 The way the joint rank works is it ranks
8 every individual score for everyone who has the
9 ALSFRS-R, and then those participants who died are
10 ranked as a worst case with the earlier deaths
11 getting the lowest ranks and later deaths getting
12 the next higher ranks until the last participant
13 who died gets this bottom block of ranks. So all
14 the deaths are at the very bottom, everyone who's
15 still alive is at the top, and then the missing
16 data is between those two values.

17 We have three different versions of this
18 model here. The first one is taking the last
19 observed time for each individual, and then ranking
20 those scores, and then analyzing those in the next
21 model. The second one that's shown here uses
22 multiple imputation, imputes data to the end of the

1 study for all participants, but then to rank those
2 imputed scores at the 24-week timepoint, and then
3 that analysis is the middle group.

4 The last one shown here is the same thing as
5 the second, but then we're also using in addition
6 to death the additional outcomes that were part of
7 our composite as one of the reasons for ranking at
8 the lowest case; so then we are analyzing each of
9 those with these ranks.

10 Now, there are two things that the joint
11 rank model does. Number one, as I mentioned, it
12 accounts for the deaths and it gives them a
13 worst-case outcome. But number two is it loses the
14 scale that we started with, so the ALSFRS-R points
15 are no longer visible in the scale, and instead we
16 have a ranked point value here that's the number of
17 ranks different the groups are. By doing the
18 ranking, we do lose some information of the
19 original scale, and we do that in order to
20 accommodate including the death in that analysis.

21 DR. FOLLMANN: Thank you.

22 I have a couple other questions. I think

1 the next one should be for you as well.

2 In the briefing materials, there was a table
3 that showed the slope of decline during the blinded
4 phase for the two arms and then also the slope of
5 decline for the open-label phase for the two arms
6 when both are on drug now.

7 I was wondering if you had that table with
8 confidence intervals for it because I'd like to
9 gauge the evidence for a drug effect in the placebo
10 crossovers, and you have a point estimate that's no
11 uncertainty about it.

12 DR. HENDRIX: These estimates are just point
13 estimates and were not calculated with confidence
14 intervals around them, but that's something we can
15 get for you after the break.

16 DR. FOLLMANN: Thanks.

17 Then the final question is related to the
18 PHOENIX study. I'm interested in the rationale for
19 it and maybe a little more about the design. For
20 example, that's a study of 600 patients rather than
21 this study was 137. Were there different design
22 considerations?

1 So that's one thing, a little more about the
2 details of that, and then what was the rationale
3 for doing this study? Is it to get, I guess,
4 licensure in Europe or what?

5 DR. TIMMONS: This is Dr. Timmons from a
6 Amylyx. I'll address this question.

7 In terms of the PHOENIX study design, there
8 are some similarities between the CENTAUR trial,
9 but there are some key differences that require the
10 adjustment of the study design. In terms of the
11 sample size, we are looking at a more heterogeneous
12 population in the PHOENIX study, and as mentioned,
13 the CENTAUR study was a homogeneously progressing
14 patient population, especially due to the inclusion
15 criteria of definite ALS and also restricting to
16 less than 18 months from symptom onset.

17 As you can see here on the PHOENIX study
18 design, it is a broader inclusion criteria,
19 definite clinically probable ALS and less than
20 24 months from symptom onset. In addition, we will
21 be able to answer some key questions in PHOENIX
22 that we're not able to answer in CENTAUR,

1 specifically being able to stratify by edaravone
2 use.

3 In terms of the question for why conducting
4 the study, there are certainly a few. Namely, as
5 we mentioned, the majority of participants are in
6 Europe, and a year-long study is necessary for
7 approval in the EMA. As mentioned in the FDA's
8 introduction, based on our back and forth, this
9 study was also to include U.S. participants, which
10 it does, in addition to provide more data before
11 the decision was made to submit the NDA.

12 DR. FOLLMANN: Thanks, and just one final
13 question. What's the primary endpoint for those?

14 DR. TIMMONS: The statistical analysis plan
15 is not finalized yet, so we don't have the specific
16 analysis yet, but it will be a combined assessment
17 of function and survival, change from baseline in
18 ALSFRS-R, and survival at 48 weeks. We will be
19 working through the exact method to perform that
20 analysis.

21 DR. FOLLMANN: Thank you. That's all I have.
22 Over.

1 DR. MONTINE: Thank you, Dr. Follmann.

2 Next on the list is Dr. Caleb Alexander. If
3 you would, please?

4 DR. C. ALEXANDER: Sure. This is Caleb
5 Alexander. I think this is for Justin Klee.

6 You noted that in referring to the method of
7 modeling the primary outcome, you noted, "We didn't
8 hear back from the FDA in 2016 or 2019 and assumed
9 it was okay to proceed."

10 This is one of several important
11 differences, I believe, between the sponsor and the
12 FDA's approach to the matter, so I just wanted to
13 be clear whether this is to suggest that you
14 weren't aware of the FDA's concerns about modeling
15 the primary outcome the way that you did until
16 CENTAUR was underway. And if that's the case, had
17 you have been aware, what would you have done
18 differently?

19 DR. TIMMONS: Justin Klee?

20 MR. KLEE: Hi. Justin Klee here. No, we
21 were aware of the FDA's preferred methodology.
22 They brought it up in the pre-IND meeting that they

1 would prefer a joint rank analysis of function and
2 mortality. We wrote back, along with
3 Dr. Schoenfeld, who, as I mentioned, is the
4 co-inventor of the joint rank method, and
5 Dr. Schoenfeld strongly encouraged both us and the
6 FDA that he thought a mixed effects model would be
7 more appropriate for this study design, given the
8 inclusion criteria and given the expectation that
9 there would be few mortality events in the 24-week
10 randomized study.

11 So when I was referring to our
12 conversations, we wrote back after the 2016 pre-IND
13 discussion, and we did not hear after that. We
14 submitted the statistical analysis plan in 2019.
15 We received comments again suggesting the use of
16 joint rank. We wrote back again with our proposed
17 sensitivity analyses, but keeping the primary given
18 that the study had been powered and was well
19 underway at that point on that outcome.

20 So I hope that clarifies.

21 DR. C. ALEXANDER: Yes, that's helpful. I
22 know and appreciated seeing the sensitivity

1 analyses that were done, so I imagine for one of
2 your colleagues just a question about that.

3 My understanding is that the approach that
4 you used to manage missing data in the sensitivity
5 analyses using the log-rank test was the last
6 observation carried forward approach, which as you
7 know requires very strong assumptions that on their
8 face would seem to be problematic in a disease such
9 as ALS. So I wondered if someone could speak to
10 that.

11 DR. TIMMONS: This is Dr. Timmons from
12 Amylyx. I'll start showing the slide here that
13 shows the different joint rank analyses. The
14 analysis you're referring to is in the top row, and
15 then analyses using a multiple imputation method
16 are row 2 and 3, and I'll have Dr. Hendrix walk us
17 through.

18 DR. HENDRIX: Dr. Hendrix.

19 On the first analysis, it did use the last
20 available data for deriving the rank, and the main
21 reason for that was we were reading the publication
22 that described the joint rank model that the FDA

1 had forwarded to us, and we were trying to mirror
2 what was in that the closest we could according to
3 what they had in there, and then we performed this
4 analysis following that direction.

5 The publication itself is not completely
6 explicit about the algorithm, and rereading it,
7 there's some ambiguity of how exactly it would be
8 interpreted. So these additional analyses are
9 including some of those other ways to do it, but of
10 course they also bring in the multiple imputation,
11 which brings in additional variability into that
12 modeling.

13 As I mentioned earlier, I think remembering
14 the goal of the joint rank, which is to make sure
15 that the deaths are not making it look like
16 function is better than it really is, in this case
17 where we actually have a survival benefit based on
18 the long-term data, there's really little concern
19 that the deaths are going in the wrong direction,
20 and in this short amount of time of the 24 weeks,
21 we're not necessarily able to see that.

22 So we feel like the analyses that we did

1 using an imputed value of zero or 7 for the deaths
2 are maybe a more appropriate way to look at this
3 without losing power from the joint rank by doing
4 the ranking, and also without losing power due to
5 the multiple imputation.

6 What we find is that whichever way we do
7 this, with the zero imputation, with the 7, with
8 all three of these different methods here, multiple
9 imputation or doing the last observation carried
10 forward, the results are extremely consistent with
11 effect sizes close to 2 to 2 and a half on the
12 ALSFRS-R, rank scores between 12 and 14, and then
13 consistent statistical evidence across all of those
14 approaches.

15 DR. C. ALEXANDER: Thank you.

16 The final question has to do with -- if it's
17 true -- really remarkable survival benefits that
18 are suggested by the open-label analyses. I just
19 wondered that given what you describe, as well, as
20 robust and sustained slowing in functional decline
21 that begins very early in the randomization phase
22 of the trial, why you believe that there were no

1 statistically significant benefits with secondary
2 endpoints.

3 I know that you spoke to muscle strength,
4 but it's not just one secondary endpoint, it's
5 muscle strength, it's biomarkers, it's respiratory
6 capacity, and perhaps most puzzling, composite
7 survival. So what do you think accounts for the
8 discrepancy and the discordance between the really
9 remarkable gains that appear if you take the open-
10 label results on their face and the analyses during
11 the randomized portion of the study?

12 DR. TIMMONS: Dr. Shefner?

13 DR. SHEFNER: Jeremy Shefner. Well, I think
14 this is a simple answer, and that's that there were
15 essentially almost no deaths during the 24-week
16 randomized, placebo-controlled portion. And the
17 reason that there were almost no deaths was that
18 the inclusion criteria prioritized people who would
19 likely survive that 24-week period.

20 So I think that really accounts for
21 discordance. You are able to see functional
22 endpoints but aren't going to see a survival impact

1 if survival is nearly uniform.

2 DR. C. ALEXANDER: But if I'm understanding
3 what was reported in the New England Journal of
4 Medicine report, as well as what we've heard thus
5 far, there were no statistically significant
6 effects on any of the secondary endpoints; so
7 muscle strength or respiratory capacity either.

8 DR. SHEFNER: Sorry about that. I
9 misunderstood the question.

10 The impacts are not statistically
11 significant, but in terms of point estimate of
12 effect, they're very similar to the impact on the
13 ALSFRS-R. So I think just in terms of the
14 characteristic of these outcome measures in this
15 and other studies, the variability of measurement
16 is somewhat in excess of the ALSFRS-R. So the
17 similar point estimate of effects are going to be
18 less significant, and this is consistent with
19 multiple other previous trials.

20 DR. C. ALEXANDER: Thank you.

21 DR. MONTINE: Thank you.

22 Dr. Fischbeck, would you please?

1 DR. FISCHBECK: Sure. This is Kenneth
2 Fischbeck at the NIH. I have several questions,
3 three or four questions, if there's time, but I'll
4 maybe just ask one to start with primarily for
5 Joshua Cohen, I guess, but others can chime in,
6 about the PHOENIX study, the status of the phase 3
7 study.

8 Clinicaltrials.gov doesn't list any of the
9 European sites. It just lists 33 sites in the
10 U.S., and only two or three of them are listed as
11 recruiting. The Dallas site is listed both as
12 recruiting and not recruiting.

13 I wonder if the FDA approves this
14 application, what will happen to the PHOENIX study,
15 and in particular the PHOENIX study in the U.S.
16 Will that be discontinued? Will it be continued?
17 Where is it now, and what are your plans for it if
18 you get approval?

19 It seems if you get approval and start to
20 market the drug in the U.S., some of the money you
21 may have set aside for this study could be
22 available for other things. I wonder if you're

1 willing to pass it back to the patients with an
2 affordable limit on the price of the drug.

3 DR. TIMMONS: Great. To start with the
4 first part of the question in terms of, just in
5 general, the PHOENIX sites, I can show those up for
6 you real quick here.

7 DR. FISCHBECK: Who is it who's speaking?

8 DR. TIMMONS: I'm sorry. Apologies for
9 that. This is Dr. Timmons from Amylyx.

10 DR. FISCHBECK: Yes. I directed the
11 question to Joshua Cohen.

12 DR. TIMMONS: Absolutely.

13 Joshua Cohen?

14 MR. COHEN: Hi. This is Josh. Yes, it's a
15 great question. We designed the study very much so
16 to be able to complete regardless of the ultimate
17 decision on this NDA, and that's very much our
18 commitment. We believe it's really important to
19 continue generating data in ALS, and that's why
20 we're doing that.

21 In terms of the U.S. sites, we've been quite
22 thoughtful about that, and we also want to ensure

1 that we don't have too much truncation of data on
2 patients. So actually, currently we have basically
3 stopped our recruitment in the U.S. and are
4 continuing our recruitment in Europe given the
5 current status of the NDA and how close the PDUFA
6 date is. But regardless, in Europe we are very
7 confident that we'll be able to complete the study
8 and that we have enough sites.

9 Let me try to put this up. Did this go up;
10 the European sites? Nice.

11 I'm surprised they're not updated on
12 clinicaltrials.gov; we can certainly update that.
13 But we have quite a number of sites in Europe as
14 well.

15 DR. MONTINE: Great. This is Tom Montine.

16 If I may, Dr. Fischbeck, I'll circle back to
17 you. Time is starting to be short, and I want to
18 be sure we give everybody a chance.

19 DR. FISCHBECK: Okay.

20 DR. MONTINE: Dr. Apostolova, please?

21 DR. APOSTOLOVA: Yes. This is Liana
22 Apostolova from Indiana University. I have a

1 couple of questions, one for Dr. Paganoni and the
2 other one for Dr. Hendrix, perhaps, or the CEOs.

3 Dr. Paganoni, the FTD subtype of ALS is
4 known to carry even poorer prognosis than ALS by
5 itself. Was an FTD subtype excluded by the
6 exclusion criteria or, alternatively, were the
7 groups balanced based on executive dysfunction at
8 the start of the study?

9 DR. TIMMONS: Dr. Paganoni?

10 DR. PAGANONI: Thank you for your question.
11 No. Based on the exclusion criteria, the CENTAUR
12 trial excluded patients who had the presence of
13 significant cognitive impairment or dementia, so
14 patients with FTD were excluded.

15 DR. APOSTOLOVA: And the executive
16 dysfunction wasn't measured and compared for
17 baseline between the two treatment groups?

18 DR. PAGANONI: No, it was not formally
19 measured. In terms of the exclusionary criteria,
20 that was left to the clinical judgment of the site
21 investigator to only enroll people that didn't have
22 cognitive impairment.

1 DR. APOSTOLOVA: Okay. Thank you.

2 The second one has to do with the survival
3 analysis. I believe in the preview materials, it
4 was mentioned that maybe the two groups, treatment
5 versus placebo, had a different percentage of
6 individuals starting edaravone during the trial
7 after randomization. Was that adjusted for?

8 DR. TIMMONS: Dr. Hendrix?

9 DR. HENDRIX: This analysis was not adjusted
10 for use of edaravone, but if you recall, the number
11 of patients who started on edaravone was 4 percent
12 in the placebo arm and 12 percent in the active
13 arm. And on this plot that shows the survival, you
14 see that that 6-month time period in which they
15 started, it's just the very beginning of this
16 curve, and the separation that we're seeing is
17 throughout the entire curve. When we do adjust the
18 ALSFRS-R functional outcome for use of edaravone,
19 or riluzole, or both together, we get very similar
20 results at two different ways.

21 Let me show now then post hoc Cox
22 regression, or I'll mention the post hoc Cox

1 regression model where we did adjust for baseline
2 use of edaravone. Instead of the hazard ratio of
3 0.62, which means you have 62 percent of the risk
4 at any timepoint, in the treated patients compared
5 to the overall patients, we instead have a risk of
6 0.57, so 57 percent of the risk of the placebo
7 group and the active group. So when we adjust for
8 edaravone, we actually get a slightly better hazard
9 ratio than we do if we don't adjust.

10 DR. APOSTOLOVA: Thank you. I have no
11 further questions.

12 DR. MONTINE: Thank you.

13 Mr. Weston, please?

14 MR. WESTON: Yes. Thank you. I'm going to
15 go in a slightly different direction.

16 At the outset, I believe it was Mr. Cohen
17 who mentioned that each of the Amylyx presenter
18 team members were being compensated with respect to
19 their preparation for the meeting, and I want to
20 dig just a little deeper on that and ask each of
21 you, in addition to being compensated for preparing
22 and presumably appearing at this meeting, do any of

1 you have ongoing financial interests in a positive
2 outcome of this drug application such as an equity
3 interest in the company or something similar?

4 Thank you. I'll mute myself. That was my
5 question.

6 DR. TIMMONS: This is Dr. Jamie Timmons. I
7 am an Amylyx employee. I'll have Dr. Paganoni come
8 up next.

9 DR. PAGANONI: Hi. This is Dr. Paganoni. I
10 don't have any equity in the company. I have
11 received research grants as the PI of the CENTAUR
12 trial and as a co-chair of the ongoing PHOENIX
13 phase 3 trial, and I've had institutional
14 consulting agreements, again, to compensate for my
15 work for these trials.

16 DR. SHEFNER: Hi. This is Jeremy Shefner.
17 I have served on a couple of Amylyx sponsored
18 advisory panels for which I've received consulting
19 income. I have no equity interest, but I have
20 received research support for managing the
21 components of the CENTAUR trial that were
22 previously discussed.

1 DR. HENDRIX: Dr. Suzanne Hendrix. My
2 company that I'm full owner of has been contracted
3 to do the statistical analysis of this study, and
4 then I've been contracted with consulting around
5 this meeting. I have no equity interest in
6 anything associated with the Amylyx.

7 DR. TIMMONS: Those are the core presenters
8 besides Joshua Cohen and Justin Klee, who are the
9 co-CEOs of Amylyx.

10 DR. MONTINE: Thank you.

11 Dr. Robert Alexander, please?

12 DR. R. ALEXANDER: Thanks, Dr. Montine.

13 This is Robert Alexander. I have a couple
14 questions for the sponsor.

15 First, outside of the clinical data that was
16 presented, is there evidence that the component
17 drugs in AMX enter the brain, and in particular, do
18 you have any estimation of the brain exposure
19 relative to the exposures where effects were seen
20 in preclinical models?

21 My second question, if you have the baseline
22 levels of the phosphorylated neurofilament heavy in

1 the two groups in the randomized period, if you
2 could share that. Thanks.

3 DR. TIMMONS: In terms of the first
4 question, do we have any data in CSF in the brain,
5 in the ALS clinical trial, we did not collect CSF
6 samples, only plasma. In our Alzheimer's clinical
7 study, we were able to collect CSF, and we do see
8 evidence of impact on key biomarkers there:
9 phospho-Tau, PHOTAU, and the Abeta 42/40 ratio.

10 I think the next question was to show the
11 baseline neurofilament levels, which I can pull up
12 for you here.

13 DR. R. ALEXANDER: Yes, if you have them,
14 that will be great.

15 DR. MONTINE: I'm sorry to interrupt. We've
16 run out of time in this session. There will be
17 time for further clarifying questions later in the
18 day. So since you have the slide up, would you
19 please just briefly run through this, and then
20 we'll adjourn for a break?

21 DR. TIMMONS: Yes, absolutely. This is
22 Dr. Timmons. The baseline neurofilament levels

1 were balanced between groups. The difference that
2 you see here, there's no significant difference
3 between the AMX0035 and placebo group.

4 DR. MONTINE: Thank you, and I apologize for
5 interrupting.

6 We're now going to take a 10-minute break.
7 Panel members, please remember there should be no
8 communication, no chatting, and no discussion of
9 the meeting topics or with other panel members
10 while we're taking a break. We're going to
11 reconvene at 11:55 Eastern Time Thank you,
12 everyone.

13 (Whereupon, at 11:47 a.m., a recess was
14 taken.)

15 DR. MONTINE: This is Tom Montine. Welcome
16 back, everyone. We'll now begin with the FDA
17 presentation, beginning with Dr. Emily Freilich.

18 **FDA Presentation - Emily Freilich**

19 DR. FREILICH: Thank you, Dr. Montine.

20 My name is Emily Freilich. I'm the
21 cross-disciplinary team leader from the Division of
22 Neurology 1 for the new drug application for

1 AMX0035, for the treatment of ALS. I will present
2 an overview of the available efficacy and safety
3 data, which will be followed by a statistical
4 presentation by Dr. Tristan Massie. I will then
5 provide a few concluding remarks.

6 What does it mean for a drug to be
7 effective? You have already heard from
8 Dr. Buracchio that there are legal standards for
9 the determination of efficacy, which require a drug
10 to demonstrate substantial evidence of
11 effectiveness. There are a few pathways that can
12 be used to meet these standards.

13 A typical approach is the use of two
14 adequate and well-controlled studies, which is a
15 common way of independently substantiating that a
16 drug has the effect that it is purported to have.
17 Alternatively, there are situations -- especially
18 when two studies may not be feasible, ethical, or
19 practical -- when FDA may determine that it is
20 sufficient to use a single adequate and
21 well-controlled study plus confirmatory evidence.
22 And finally, if a single study, typically a large

1 one, is exceptionally persuasive, it may sometimes
2 serve to independently establish efficacy.

3 So what is the goal for today? As we have
4 heard, there's a continued unmet need for new
5 therapies for people living with ALS. That is not
6 in question. We understand the importance of and
7 need for new treatments to slow down the relentless
8 progression of ALS and extend the life of people
9 living with ALS. We also understand that ALS can
10 be a heterogeneous disease, and that although most
11 patients survive only 2 to 4 years from the onset
12 of symptoms, 10 to 20 percent of patients may live
13 longer than 10 years.

14 Our job today, and what we are asking for
15 the committee's help with, is determining if the
16 available data are adequate to conclude that
17 AMX0035 is effective in the treatment of ALS. You
18 will hear concerns that call into question the
19 persuasiveness of the applicant's reported results.

20 This is a drug that does not have a highly
21 targeted mechanism of action. Despite the reported
22 results on the primary endpoint and on a post hoc,

1 open-label assessment of survival, there are
2 methodologic and statistical concerns that make it
3 challenging to conclude that the study results are
4 not due to chance alone, especially given the
5 underlying disease heterogeneity.

6 The question we have for you today is do the
7 data from the single randomized-controlled trial
8 and the open-label extension phase establish a
9 conclusion that AMX0035 is effective in the
10 treatment of patients with ALS? And if not, what
11 additional data are needed to determine
12 effectiveness?

13 As described by the applicant, AMX0035 is a
14 fixed-dose combination of 3 grams of sodium
15 phenylbutyrate and 1 gram of taurursodiol, commonly
16 known as Turso or Tudca. ALS is a progressive
17 neurodegenerative disease characterized by the
18 death of motor neurons.

19 The applicant postulates that AMX0035 may
20 reduce neuronal death by simultaneous inhibition of
21 endoplasmic reticulum and mitochondrial stress.
22 FDA notes that the pathophysiology of ALS is not

1 fully understood, but likely involves multiple
2 complex processes and pathways. The purported
3 mechanism is but one of a number of potential
4 processes hypothesized to be involved in the
5 pathophysiology of ALS.

6 I would like to give a brief summary of key
7 regulatory interactions with the applicant. As you
8 have heard, we held a pre-IND meeting in
9 March 2016, at which point the division recommended
10 the applicant use a combined analysis of survival
11 and function, such as the joint rank, for the
12 proposed CENTAUR study. This recommendation is
13 routinely given to sponsors studying ALS and is
14 included in the 2019 FDA guidance on drug
15 development in ALS.

16 The IND was officially opened in April 2017.
17 At a meeting on March 12, 2020, the division
18 reviewed the top-line results of the CENTAUR study
19 and questioned the robustness of the results and
20 the ability of the study to serve as a single trial
21 able to demonstrate substantial evidence of
22 effectiveness. At that time, we recommended the

1 applicant begin work on a second efficacy study.

2 We met again in early 2021 and reiterated
3 that although encouraging, more data would likely
4 be necessary to support a marketing application.
5 At that meeting, we discussed plans for a larger
6 phase 3 pivotal study, which is currently ongoing.

7 Subsequent to that meeting, the division
8 determined that the published survival benefit
9 warranted a more thorough consideration of the
10 data, and we invited the applicant to submit a
11 request for a pre-NDA meeting, which was held in
12 July 2021. The division encouraged the applicant
13 to submit the NDA expeditiously to allow for
14 earlier review of the data.

15 I will now give an overview of the CENTAUR
16 study. CENTAUR was a randomized, double-blind,
17 placebo-controlled study conducted at multiple
18 sites in the United States. A total of
19 137 patients were randomized 2 to 1 to drug or
20 placebo, with 89 patients receiving drug and
21 48 patients receiving placebo for 24 weeks. There
22 were two patients who discontinued prior to any

1 post-baseline assessments and are not included in
2 the primary analysis.

3 The study enrolled an appropriate ALS
4 population for such a study. Patients were allowed
5 to be on riluzole, which had to be stable for at
6 least 30 days prior to enrollment. Once edaravone
7 was approved in the U.S. in 2017, its use was also
8 allowed.

9 During the study, 20 patients in the
10 treatment arm discontinued, with 67 patients
11 completing the study. Seven of those patients had
12 also discontinued from the drug but completed all
13 visits, leaving only 60 patients who were still on
14 drug at the end of the study. Ten patients
15 discontinued from the placebo arm, with 38 patients
16 completing the study, one of whom had discontinued
17 from the drug. Most of the discontinuations were
18 due to patient decision, which included adverse
19 events, disease progression, and withdrawal of
20 consent.

21 We also note that there were additional
22 deaths in the 24-week study that were not recorded

1 as a disposition event if the death was recorded
2 after patient withdrawal, for a total of 5 deaths
3 in the AMX0035 treatment arm and 2 deaths in the
4 placebo arm.

5 This slide lists the clinical endpoints in
6 the CENTAUR study. The primary endpoint was the
7 rate of decline in the ALS Functioning Rating
8 Scale-Revised and the ALSFRS-R at week 24. The
9 ALSFRS-R measures 12 functional activities in
10 4 domains, including bulbar, breathing, fine motor,
11 and gross motor domains.

12 Higher scores on the ALSFRS indicate better
13 performance. The scale is administered by a
14 clinician asking the patient to score their level
15 of function for these various activities, and
16 because of such, some items can be prone to
17 subjectivity.

18 FDA agrees that the ALSFRS-R is an
19 acceptable primary endpoint to measure functional
20 change in ALS. Rate of decline is not generally
21 the most appropriate approach to analyzing the
22 treatment effect, as it assumes that the changes in

1 the ALSFRS is linear over time, which has not been
2 established. Additionally, when deaths occur, a
3 primary endpoint of function alone does not account
4 for loss of data due to death during the study.
5 This will be discussed further in the statistical
6 presentation.

7 The key secondary endpoint was the rate of
8 change in the Accurate Test of Limb Isometric
9 strength, ATLIS, a measure of static muscle
10 strength in each limb. The second secondary
11 endpoint was the rate of change in plasma
12 neurofilament heavy chain, a potential biomarker of
13 neuronal degeneration and axonal injury. It may be
14 hypothesized that a therapy that shows benefit in
15 the treatment of ALS may also decrease pNF-H
16 levels. Third in the hierarchy was a rate of
17 change in slow vital capacity, or SVC, at week 24.
18 SVC is a measure of respiratory function.

19 Survival, defined as the rate of death,
20 tracheostomy, permanent assisted ventilation, and
21 hospitalization at week 24 was last in the
22 hierarchy of secondary endpoints. Inclusion of

1 tracheostomy and hospitalization in the definition
2 of survival is problematic, as there may be
3 considerable variability as to when to hospitalize
4 a patient or perform a tracheostomy due to the
5 differences in standard of care by the treating
6 physician or patient preferences. Tracheostomy may
7 also be placed in anticipation of the future need
8 for ventilatory support.

9 In the forthcoming slides, I will introduce
10 some of the efficacy results and potential concerns
11 identified during the FDA review. Additional
12 details will be provided in the statistical
13 presentation as well.

14 There were no significant imbalances
15 observed in the baseline demographic
16 characteristics of the patients in the study.
17 There were, however, a few imbalances noted in
18 baseline disease characteristics. We note a better
19 baseline ATLAS score in the AMX0035 group. This
20 may indicate that these patients may have been
21 stronger at baseline. On the other hand, baseline
22 characteristics that appear better in the placebo

1 arm included a higher percentage of patients with
2 limb-onset ALS and a higher percentage of patients
3 on concomitant ALS medication at baseline, shown in
4 blue.

5 FDA notes that in a small trial such as
6 this, baseline imbalances are more likely to occur
7 than in larger trials, and such imbalances are
8 exaggerated by the 2 to 1 randomization. The
9 number of imbalances indicates that the groups may
10 be poorly matched. Baseline prognostic differences
11 are possible and introduces uncertainty into the
12 interpretation of the results.

13 It is also important to note a few issues
14 during the conduct of the study. There was a
15 randomization implementation error such that the
16 first 18 patients -- 13 percent of the overall
17 sample size -- were assigned to the drug arm
18 because of a shipping error which resulted in the
19 unavailability of placebo doses, and the subsequent
20 9 patients were then all assigned to placebo. The
21 unblinded statistician was aware of this problem
22 and attempted to adjust the pre-planned

1 randomization schedule to fix the problem. It is
2 unclear the impact this may have had on the outcome
3 of the study but we do note that it further
4 contributed to the baseline imbalances, which will
5 be further discussed in the statistical
6 presentation.

7 Additionally, edaravone was approved after
8 the study was initiated. Patients were allowed to
9 start edaravone during this study. There was an
10 imbalance in the number of patients in each arm
11 initiating new treatment with edaravone during the
12 study. A higher proportion of patients started
13 edaravone after the baseline assessment in the
14 AMX0035 arm compared to the placebo arm. This
15 post-baseline starting of new concomitant ALS
16 medication is a possible confounder for any noted
17 treatment effect.

18 Finally, FDA also notes that the active drug
19 contains a bitter taste and causes transient GI
20 symptoms such as diarrhea and abdominal pain that
21 are more frequently reported in the first 3 weeks
22 after initiation. A bittering agent was added to

1 match the placebo in the double-blind treatment
2 period, yet there were still a number of patients
3 who were able to correctly guess which treatment
4 they had received on exit interview.

5 The potential for diarrhea and bitter taste
6 were described in the informed consent, which may
7 have alerted the patients to these symptoms and
8 could have led to functional unblinding during the
9 study. These are potential review issues we have
10 identified which contribute to the uncertainty of
11 the results.

12 I will now give an overview of the
13 applicant's efficacy analysis. The applicant
14 reports a statistically significant mean treatment
15 difference on the rate of decline in the ALSFRS of
16 2.32 points for AMX0035 compared to placebo. There
17 were 7 deaths during the study, five on AMX0035 and
18 two on placebo.

19 The primary analysis does not account for
20 these deaths, which can confound the results of a
21 functional analysis because of loss of data. In
22 addition, there were also concerns regarding the

1 handling of missing data, which will be further
2 discussed by Dr. Massie. On the prespecified
3 analysis of rate of decline of ATLIS, the secondary
4 endpoint, the applicant reports a non-significant
5 difference of 2.8 compared to placebo in the total
6 ATLIS score.

7 We note that the applicant also did
8 exploratory analyses to look at the individual
9 components of the ATLIS. However, here we note
10 that the baseline imbalance in the total ATLIS
11 score is completely driven by an imbalance in the
12 upper ATLIS score, which was 3.3 points better in
13 the AMX0035 group at baseline.

14 These differences in upper arm strength at
15 baseline could have led to proportional slower
16 decline in the AMX0035 group and may be the reason
17 for the nominally significant p-value on the upper
18 ATLIS component. We also note that these baseline
19 imbalances could also be driving the changes noted
20 in the ALSFRS.

21 Other secondary endpoints do not provide
22 strong support for the primary result. There was

1 no significant differences between AMX0035 and
2 placebo for the rate of decline of pNF-H from
3 baseline, and pNF-H actually decreased more in the
4 placebo arm. There was also a non-significant and
5 numerically small treatment difference of 5 percent
6 in the rate of decline in SVC compared to placebo,
7 and we note that there was no survival benefit
8 observed at 24 weeks, which is important to
9 consider in the context of our later discussion
10 regarding survival.

11 In summary, this is a small study with
12 baseline imbalances noted between the treatment
13 arms. As with any small trial, an impact of these
14 imbalances on the outcome cannot be excluded.
15 Additionally, there are issues that have been
16 identified with the conduct of the study such as
17 the randomization error further contributing to
18 baseline differences, post-baseline imbalance in
19 starting new medications, and the potential for
20 functional unblinding.

21 Results of the primary endpoint are not
22 highly persuasive and secondary endpoints are not

1 generally supportive of the primary endpoint.
2 There is no survival benefit seen at 24 weeks. FDA
3 does not believe that the most appropriate methods
4 were used for the statistical analyses, which will
5 be further discussed by Dr. Massie. In summary,
6 the findings of the 24-week, double-blind CENTAUR
7 study do not provide robust support for a treatment
8 effect in patients with ALS.

9 I will now briefly review the open-label
10 extension study and the results. Enrollment into
11 the open-label extension was optional after the
12 completion of the 24-week, double-blind phase.
13 Ninety out of the original 137 patients enrolled in
14 the open-label extension, with 34 percent of
15 patients not participating in the OLE. A higher
16 percentage of AMX0035-treated patients did not
17 enroll in the extension study.

18 Most patients discontinued from the
19 open-label extension with only 2 patients
20 completing 132 weeks of treatment. This table
21 includes the reason for discontinuation in the OLE.
22 Please also note that only 55 out of 90 patients

1 who enrolled in the extension study remained at
2 week 48 when the open-label efficacy analyses were
3 performed.

4 The prespecified primary endpoint for the
5 extension phase was safety with secondary
6 objectives to assess efficacy at week 48. The
7 applicant performed prespecified extended slope
8 analyses for the ALSFRS, ATLAS, and SVC at week 48
9 and reported nominally significant positive
10 results. These analyses compare patients
11 originally randomized to AMX0035, RA, for those
12 randomized to placebo, RP.

13 We note that these open-label efficacy
14 results on the functional endpoints are difficult
15 to interpret. Enrollment in the open-label
16 extension was optional, with 34 percent
17 non-participation and significant dropout during
18 this study. As mentioned, only 40 percent of
19 patients remained at the time of these week 48
20 analyses, which make it harder to interpret the
21 extended slope because of the significant amount of
22 missing data.

1 The protocol did not indicate that the blind
2 to original treatment in the double-blind period
3 was to be maintained or who among the patients,
4 investigators, and site personnel were to remain
5 blinded to the original treatment. Additionally,
6 there was again potential for functional unblinding
7 to treatment because patients may have experienced
8 GI adverse events upon transition from placebo to
9 active treatment.

10 It is noted that 44 percent of patients
11 switching from placebo to drug discontinued due to
12 adverse events in the OLE, and 75 percent of
13 patients who had received placebo in the
14 double-blind treatment period correctly identified
15 that they had received placebo when asked during
16 the exit interviews for the open-label phase.
17 Additionally, there were 23 deaths by week 48,
18 which are ignored in the slope analysis, and the
19 same concerns regarding linearity of slope analyses
20 applies here as well.

21 The applicant included a prespecified
22 survival analysis in the open-label extension which

1 was a composite time-to-event analysis, including
2 death, tracheostomy, permanent assisted
3 ventilation, and hospitalization. The applicant
4 reports a statistically significant increase in the
5 composite survival time to event in the RA group
6 compared to the RP group.

7 The composite time to survival endpoint was
8 specified in the protocol, but when it would be
9 performed was not prespecified. We note the
10 survival analyses were done after multiple data
11 cutoff dates, including September 25, 2019;
12 February 29, 2020; July 20, 2020; and March 1,
13 2021.

14 An external firm was contracted to conduct a
15 search for vital status based on the subjects'
16 family notes, clinic notes, National Death Index,
17 and Social Security Death Index, which did collect
18 the vital status on most patients who had
19 originally been in the trial, however, there are
20 limitations to interpreting the composite survival
21 analysis. As noted, there were a large number of
22 dropouts in addition to the 34 percent

1 non-participation in the open-label phase. There
2 is no information on the clinical care of patients
3 after the study discontinuation.

4 There are limitations of including
5 tracheostomy and hospitalization data in the
6 composite survival endpoint due to the previously
7 mentioned variability involved in the timing of
8 tracheostomy placement and hospitalization. These
9 were not systematically collected in the open-label
10 phase. Additionally, there may be missing data
11 after subjects terminated from the study, which
12 would not be captured in the vital status sweep,
13 including data on whether any of the alive patients
14 were requiring permanent assisted ventilation.

15 Additionally, FDA notes that there were
16 additional deaths that occurred after March 1st
17 that are not counted in the reported analysis.
18 Inclusion of these deaths changes the statistical
19 analysis of survival and further illustrates the
20 notion that in a small study such as this, a shift
21 in a few deaths in either arm, in addition to the
22 timing of the analysis, can make a big difference.

1 An additional post hoc survival analysis of
2 time to death alone was performed. The applicant
3 reports a nominally significant survival benefit on
4 a supplemental time to death only analysis, showing
5 a median difference of 4.8 months, and the details
6 will be discussed in the statistical presentation.
7 However, we also note limitations to this
8 exploratory survival analysis.

9 This is a small study which had baseline
10 disease imbalances in the treatment groups. The
11 p-value is nominal and not highly persuasive. The
12 timing of the analyses was not prespecified. The
13 results appear to differ based on the cutoff date,
14 and the apparent survival benefit that was
15 initially noted in July 2020 had decreased in
16 March 2021. We note that as of March 1st,
17 70 percent of patients randomized to drug had died
18 compared to 73 percent of patients originally
19 randomized to placebo.

20 We also found no apparent correlation
21 between the duration of drug exposure and survival.
22 There are many patients included in the survival

1 analysis who were randomized to drug but who
2 dropped out of the study early or did not enroll in
3 the open-label extension and are still contributing
4 to the reported survival benefit. When looking at
5 median survival in alive patients, patients on
6 placebo who never received drug survived for a
7 median 1,295 days, and patients who received
8 AMX0035 for greater than 96 weeks in the study had
9 a median survival of 1,237 days. Therefore, we
10 need to ask ourselves if the noted survival benefit
11 is by chance alone or due to underlying disease
12 heterogeneity rather than an effect of the drug.

13 I will briefly give an overview of the
14 AMX0035 safety profile. Overall, the 137 patients
15 provided safety data in the combined controlled and
16 open-label extension phase, with 43 patients
17 receiving drug for greater than 1 year and
18 13 patients receiving AMX0035 for greater than
19 2 years. There were no significant safety concerns
20 at the proposed dose. There were no differences in
21 fatal or serious adverse events between drug and
22 placebo, and most fatal or serious adverse events

1 were secondary to complications of ALS progression.

2 In the double-blind treatment period,
3 patient discontinuations were higher in the
4 treatment group compared to the placebo group, and
5 common AEs mostly belonged to the GI system organ
6 class, including diarrhea, abdominal pain, and
7 nausea.

8 I will now turn to Dr. Massie for the
9 statistical presentation.

10 **FDA Presentation - Tristan Massie**

11 DR. MASSIE: Thank you, Dr. Freilich.

12 I'll detail the statistical issues
13 identified in the review of the application. FDA
14 guidance indicates that a single trial to establish
15 effectiveness should demonstrate a clinical and
16 statistically very persuasive effect. Also, it
17 should include both scrutiny of trial conduct
18 including, for example, completeness of follow-up;
19 methods of analysis; imputation of missing data;
20 and evaluation of trial endpoints is critical.

21 There's uncertainty about the results from
22 the single efficacy trial of AMX0035 and its

1 open-label extension, therefore, the division
2 advised another phase 3 study was needed in
3 March 2020 and February 2021 meetings in order for
4 the efficacy of AMX0035 to be established.

5 The AMX3500 study was a multicenter,
6 randomized, double-blind, placebo-controlled
7 superiority study with an open-label extension in
8 adult patients with ALS. The study included two
9 treatment groups: AMX0035 combination product;
10 placebo; 2 to 1 randomization ratio, drug to
11 placebo. Key efficacy outcomes were collected at
12 weeks 3, 6, 9, 12, 15, 18, 21, and 24. The primary
13 endpoint was the ALSFRS-R at week 24.

14 The issues with this application are, first,
15 the single study with evidence in the primary
16 analysis that is not persuasive, a p-value of 0.034
17 and a corresponding week 24 difference of
18 2.32 points on a 48-point ALSFRS-R scale. Second,
19 there are issues with study conduct and analysis
20 assumptions.

21 Many sensitivity analyses provide less
22 persuasive results than the primary analysis. In

1 particular, there were issues with randomization
2 implementation and imbalance in use of concomitant
3 ALS medications riluzole and edaravone.
4 Additionally, there are issues with the handling of
5 deaths or lack thereof and missing data assumptions
6 in the primary analysis.

7 Also, the primary analysis assumption of
8 linearity over time and treatment effect is
9 questionable based on the observed data and the
10 prespecified analysis plan. Furthermore, secondary
11 endpoint results are not strongly compelling.
12 Finally, with regards to the open-label extension,
13 survival analyses for time to death alone are
14 exploratory and not persuasive.

15 There are two key analysis populations for
16 this study: first, the intent-to-treat, or ITT,
17 population, defined as all randomized patients who
18 received at least one dose of study drug; and
19 second, the modified intent-to-treat population,
20 the mITT, defined as all randomized patients who
21 received at least one dose of study drug and had at
22 least one post-baseline ALSFRS-R assessment.

1 Primary analysis was a mixed effects model
2 with ALSFRS-R linearity slope assumption in the
3 mITT population. Model fixed effects included an
4 intercept, week corresponding to the slope
5 assumption; interactions between the retrospective
6 pre-randomization slope week; as well as between
7 patient age and week; and finally between treatment
8 group and with slope.

9 The model also included random
10 effects -- that is random adjustments to the group
11 intercepts and slopes -- for individual patients.
12 This model assumes missing ALSFRS-R data is missing
13 at random, including for deaths before week 24 and
14 after deaths for these patients.

15 Here's the timeline of key events for the
16 AMX3500 study. March 6, 2019, FDA finalized
17 comments on the statistical analysis plan for
18 AMX3500 to present to the applicant. On
19 October 15, 2019, a revised final analysis plan was
20 submitted by the applicant. November 5th, a final
21 separate analysis plan for the open-label extension
22 was submitted by the applicant.

1 The applicant reported that November 26,
2 2019 was the date of unblinding of the double-blind
3 period data. December 16, 2019, the applicant made
4 a press release citing positive double-blind
5 results. March 12, 2020, there was a Type C
6 meeting between the applicant and FDA. In addition
7 to reporting top-line results for the double-blind
8 period at this meeting, the applicant also reported
9 an analysis of the survival composite endpoint, as
10 well as time to death or death equivalent of the
11 open-label extension data, including death or
12 death-equivalent events through September 2019.

13 April 1, 2020, the applicant submitted a
14 supplemental open-label extension analysis plan for
15 survival specifically. Finally, March 1, 2021 is
16 the death event cutoff and the applicant's final
17 survival status sweep informing the final open-
18 label extension survival analysis.

19 Notable FDA comments sent to the applicant
20 regarding the statistical analysis plan for AMX3500
21 included the need to specify the estimand for the
22 primary analysis, including how to handle

1 intercurrent events such as death. This included a
2 recommendation for a joint rank analysis of
3 function and survival being the primary analysis.
4 The importance of backup sensitivity analyses for
5 missing data and linearity assumptions was also
6 conveyed.

7 The applicant provided responses to these
8 comments on August 26, 2019, including lack of
9 agreement with the joint rank analysis being
10 primary, and the applicant later submitted a
11 revised analysis plan, which was received by FDA on
12 October 15, 2019.

13 There was a randomization implementation
14 issue in the AMX3500 study, particularly the first
15 18 patients in a row all received drug due to a
16 shipping problem resulting in unavailability of
17 placebo doses [indiscernible]. The unblinded data
18 monitoring committee statistician noticed this at
19 the first meeting of the study DMC and made changes
20 to adjust the randomization, including the next
21 9 patients in a row all receiving placebo.

22 The applicant has reported as-treated

1 results for those affected by this shipping issue,
2 not as randomized results. This weakens the
3 integrity of the results slightly as the validity
4 of the analysis rests on using the as-randomized
5 intent-to-treat assignments. The applicant's
6 sensitivity analyses, including the patients
7 affected by this randomization shipping issue, have
8 slightly less favorable p-values, with the
9 open-label, time to death analysis losing nominal
10 significance.

11 This slide details the treatment group
12 imbalances in use of concomitant ALS medications
13 edaravone and riluzole observed for the study.
14 With baseline, there was a higher proportion of the
15 placebo group edaravone, 50 percent versus
16 25 percent for drug, as well as a higher proportion
17 of the placebo group using riluzole, 77 percent
18 placebo versus 68 percent for drug.

19 On the other hand, post-baseline initiation
20 of the ALS medications riluzole and edaravone was
21 higher in the drug group, 16 percent for drug
22 versus 4 percent placebo, and there was no

1 imbalance at baseline in ALSFRS-R. This excess of
2 ALS treatment intercurrent events in the drug arm
3 may affect the interpretation of the study results;
4 that is whether the treatment group difference is
5 only due to the experimental treatment.

6 The applicant's primary analysis did not
7 account for deaths in the first 24 weeks and
8 occurred at a 5.6 percent rate for the drug group
9 and 4.2 percent for placebo. This creates a
10 potential for corresponding bias in the primary
11 analysis, assumes missing at random after death,
12 and doesn't include 2 deaths with no post-baseline
13 ALSFRS-R.

14 It is more appropriate to combine survival
15 and function considering death as an unfavorable
16 outcome such as with the joint rank analysis. The
17 mITT population used for the applicant's primary
18 analysis excluded all patients without
19 post-baseline ALSFRS-R assessments, thus excluding
20 2 deaths on drug occurring prior to post-baseline
21 FRS-R assessment. Therefore, sensitivity analyses
22 in the ITT population are particularly important.

1 There was considerable missing ALSFRS-R data
2 at week 24 in this study, 17.4 percent for placebo
3 and 17.9 percent for drug, among those who survived
4 to week 24. The applicant's primary analysis
5 relied on a missing-at-random assumption for this
6 missing data. The applicant's sensitivity joint
7 rank analysis for which no details were
8 prespecified in the analysis plan relied on the
9 last observation carried forward method for
10 handling missing data in survivors.

11 This LOCF method relies on an unrealistic
12 assumption of no worsening after dropout, and is
13 especially unrealistic in a progressive disease
14 like ALS. LOCF also does not appropriately capture
15 statistical uncertainty in missing values.

16 The FDA reviewer used a missing-at-
17 random-based multiple imputation approach in the
18 reviewer's implementation of the joint rank
19 analysis. Multiple imputation captures some of the
20 uncertainty in missing values. This analysis still
21 involves a strong and unverifiable
22 missing-at-random assumption.

1 As shown here in the table of the joint
2 ranked analysis results, the FDA analysis
3 incorporating deaths by a joint rank test provides
4 less persuasive evidence. The FDA analysis
5 included the two ITT deaths not included in the
6 mITT population and used multiple imputation under
7 a missing-at-random assumption for missing data
8 rather than the last observation carried forward,
9 which is only valid under a more restrictive
10 missing completely at random assumption the sponsor
11 had used.

12 The applicant's implementation of the joint
13 rank also ranked the covariates of age and
14 pre-randomization slope in the analysis of the
15 covariance of the joint ranks used to determine the
16 joint rank p-value. Its ranking of covariates was
17 not prespecified, as no details of the sponsor's
18 joint rank imputation were, and the FDA reviewer
19 noted that analyses without ranking these
20 covariates tended to produce slightly higher
21 p-values. But for consistency, the FDA reviewer's
22 reported analysis in the table also ranked

1 covariates and that the applicant's alternative
2 prespecified sensitivity analysis for death -- the
3 so-called left-censored analysis -- is not shown
4 here because it is problematic and thus
5 inconclusive, as was detailed in the FDA comments
6 in the briefing package.

7 This slide shows the quadratic and
8 mean-per-visit repeated measures models suggest
9 potential non-linearity of ALSFRS-R over time and
10 optimistic bias at week 24 for the primary slope
11 model. Slope model overshoots the placebo means in
12 the beginning and middle of follow-up and
13 undershoots the placebo mean at week 24. Visual
14 plots used for model diagnostics, not shown here,
15 also suggested potential non-linearity of the
16 ALSFRS-R an inferior model fit for the slope model.

17 The table in this slide suggests a
18 sensitivity to the linearity assumption underlying
19 the applicant's primary analysis. It shows that
20 sensitivity analyses allowing for non-linearity
21 provide less persuasive evidence. IND stage
22 comments provided to the sponsor on the analysis

1 plan -- the FDA had indicated that linearity should
2 be assessed in a prespecified objective
3 way -- should be a backup analysis for non-
4 linearity but that slope models ignoring of deaths
5 can cause bias, and so the joint ranks should be
6 primary if there were deaths.

7 The sponsor presented results for a
8 different quadratic model in the study report and
9 AC briefing package shown in the first row in this
10 table has a more favorable result than the
11 prespecified quadratic model shown in the second
12 row, but the former is a post hoc model, so
13 unreliable. There's a fairly big difference in the
14 p-values of the post hoc and prespecified quadratic
15 models, p equals .0385 for the post hoc model and
16 0.1134 for the prespecified one.

17 Neither of these quadratic models allowed
18 the quadratic term to vary by treatment group,
19 which may be unrealistic in the setting of a
20 quadratic model. Therefore, the FDA reviewer
21 extended the prespecified model and allowed the
22 quadratic term to vary by treatment group. The

1 result is shown in the third row with the p-value
2 of 0.0644.

3 None of these quadratic models is ideal, in
4 general, for non-linearity situations, which is why
5 the FDA neurology statistical team usually
6 recommends a mean-per-visit repeated measures model
7 in order to get an unbiased estimate of the
8 treatment difference at the last visit while
9 avoiding a questionable linearity assumption. That
10 is when deaths are not expected in the study.

11 The results of this non-linear compatible
12 model is shown in row 4 to have an estimated
13 week 24 treatment difference of 1.86 with a p-value
14 of .0749, but again, this model also ignores
15 deaths.

16 The secondary endpoint results in
17 Study AMX3500 are not persuasive. The first key
18 secondary, ATLAS, a measure of strength, has three
19 possible summaries of interest, and the analysis
20 plan was not clear on which was primary. Only the
21 upper ATLAS component is nominally significant with
22 a p-value of 0.042. The total, which would be the

1 most likely primary summary, is not nominally
2 significant.

3 The ATLAS analyses also ignored deaths and
4 have slightly more missing data at week 24 with the
5 ALSFRS-R. The rest of the key secondary endpoints
6 shown in the prespecified order of priority were
7 not nominally significant. These include slow
8 vital capacity; neurofilament biomarker pNF-H
9 positive survival endpoint; time to first event of
10 hospitalization, tracheostomy, or death.

11 Turning to the up to 132-week open-label
12 extension study, the primary objective was to
13 evaluate general safety. Here, it's protocol
14 specified efficacy endpoints; ALSFRS-R rate of
15 decline; positive survival endpoint of time to
16 first hospitalization, tracheostomy, or death;
17 upper and lower ATLAS scores rates of decline; rate
18 of progression on the ALSFRS-R subdomains; rate of
19 progression on total ATLAS score.

20 Time to death alone was not specifically
21 included in the list of efficacy outcomes or
22 objectives. Analysis of time to death alone was

1 included in the description of analyses of the
2 components of the composite survival endpoint and
3 was not given priority relative to the other two
4 components, hospitalization or tracheostomy, or
5 relative to the composite itself. Prespecified
6 composite survival endpoint analysis was to be
7 based on a Cox proportional hazards regression with
8 age and pre-randomization slope as covariates.

9 The primary objective of the open-label
10 extension of the AMX3500 study was safety, followed
11 by the objective of investigating progression on
12 the ALSFRS-R -- time to the composite, event of
13 hospitalization, tracheostomy, or death;
14 progression on the ATLAS function measure; and
15 progression on slow vital capacity.

16 The results for all endpoints except death
17 are very difficult to interpret due to substantial
18 dropout and missing data and many deaths. In
19 particular, only 66 percent of patients entered the
20 open-label extension. Only 40 percent have week 48
21 ALSFRS-R measurements, and there's 15 to 20 percent
22 mortality by week 48, which is ignored in the

1 applicant's extended slope analysis. Linearity
2 assumption for these endpoints over time are for a
3 longer period, yet another limitation given the
4 questionable linearity in the shorter period.

5 Supplemental open-label extension
6 statistical analysis plan for survival was drafted
7 after the sponsor had already analyzed survival
8 data from the open-label extension after the last
9 patient last visit in the double-blind period.
10 This supplemental analysis plan shifted the focus
11 from the survival composite of hospitalization,
12 tracheostomy, or deaths that were listed as an
13 objective in the open-label extension protocol, the
14 endpoint of time to death alone, which had not been
15 specifically listed as an objective in the
16 protocol. The supplemental analysis plan specified
17 a Cox proportional hazards regression time to death
18 alone with age, baseline ALSFRS-R, and
19 pre-randomization slope as covariates.

20 The figure here shows Kaplan-Meier estimates
21 of overall survival based on time to death only
22 through the open-label extension. Note that there

1 was no re-randomization for the open-label
2 extension. A moderate proportion of 35 percent did
3 not participate but that vital status as of
4 March 1, 2021 was obtained for purportedly all but
5 one randomized patient. It's important to note
6 that the original placebo group continues into the
7 open-label extension, switched to AMX0035
8 treatment.

9 Using the supplemental analysis plan
10 methods, the covariate adjusted hazard ratio for
11 time to death between the two groups was estimated
12 as 0.64, the 95 percent confidence interval ranging
13 from 0.42 to 1.00, based on the final vital status
14 searches death event cutoff of March 1, 2021.

15 DR. MONTINE: Excuse me, Dr. Massie. This
16 is Tom Montine. It's time for you to wrap up,
17 please.

18 DR. MASSIE: Okay. Well, I think I can just
19 turn it back over to Dr. Freilich in that case.

20 **FDA Presentation - Emily Freilich**

21 DR. FREILICH: Thank you, Dr. Massie.

22 I will now focus on the final focus of our

1 discussion this morning. We want to acknowledge
2 that there is a pivotal phase 3 study currently
3 underway, which is a 48-week, double-blind,
4 placebo-controlled study in 600 patients. The
5 study should complete in late 2023. The primary
6 endpoint is a joint analysis of survival and
7 function as measured by the ALSFRS-R.

8 We need to consider how this study will fit
9 with the available existing evidence. A positive
10 study could combine with the CENTAUR results to
11 convincingly support the benefit of the drug in the
12 treatment of ALS, but what would it tell us about
13 the efficacy of the drug if that study is negative?

14 Finally, I want to leave you with our
15 question for the committee today. There will be a
16 single voting question.

17 Do the data for the single
18 randomized-controlled trial and the open-label
19 extension study establish a conclusion that sodium
20 phenylbutyrate/taurursodiol is effective in the
21 treatment of patients with ALS?

22 There will be a discussion of the vote, and

1 if you vote no, we would ask you to please discuss
2 what additional information you would consider
3 necessary to establish a conclusion that sodium
4 phenylbutyrate and taurursodiol is effective in the
5 treatment of patients with ALS. Thank you.

6 **Clarifying Questions to the FDA**

7 DR. MONTINE: Thank you, Dr. Freilich.
8 Thank you, Dr. Massie.

9 We will now move to the clarifying questions
10 for the FDA. The format will be as before. Please
11 raise your hand. I will acknowledge you. When
12 acknowledged, state your name for the record.
13 Direct your question to Dr. Freilich or Dr. Massie
14 if you can, or to a specific slide if you can.
15 Please signal when you're done, and then push the
16 icon again to lower your hand.

17 We have lots of hands up, so in the interest
18 of time I would ask that you please limit yourself
19 to your two most pressing questions, and then we'll
20 cycle around as time permits. If we have time
21 remaining at the end of this session, we'll return
22 to the remaining questions for the applicant, and

1 if we don't have time, we will later after the open
2 public hearing session for additional questions.

3 So again, I'm just going to do this in the
4 order in which I see the list, if that's ok.

5 Dr. Nath, you're at the top of the list.

6 DR. NATH: Thank you very much. Avi Nath
7 here. First of all, I want to thank the FDA for
8 such as very thorough analysis that they've done
9 and of the study. It was very helpful.

10 I have one single question, and that is,
11 since most of the efficacy rests on the Functional
12 Rating Scale and there's a subjective component to
13 it, the issue about blinding or unblinding is
14 really critical to understanding the effect of the
15 treatment, so there's a discrepancy.

16 The investigators were very comfortable that
17 the patients were not unblinded, however, the FDA
18 thinks that the side effects were such that the
19 patients were unblinded. So if somebody could
20 clarify for me as to whether the patients were
21 unblinded or not, it would be very, very helpful.
22 I mean, how do we reconcile these things?

1 DR. FREILICH: This is Dr. Freilich. Thank
2 you, Dr. Nath, for that question.

3 I would clarify that we do not know with any
4 certainty that patients were unblinded. We had
5 merely raised it as a review issue that there was a
6 potential for unblinding as we analyze in any
7 clinical trial when there are distinct adverse
8 events such as the diarrhea and abdominal pain;
9 that that could be a contributor to patients
10 knowing which drug they were on and potentially
11 leading to uncertainty in the interpretation of the
12 results. We obviously can't know with any
13 certainty if the patients were unblinded or not.
14 We were just raising that as one of our concerns.

15 DR. NATH: Thank you. That's very helpful.
16 Over.

17 DR. MONTINE: Thank you.

18 Dr. Traynor, please.

19 DR. TRAYNOR: Hello. This is Bryan Traynor.
20 Actually, I think my hand was raised from the
21 applicant session, so my question is more
22 appropriately addressed to the applicant when we

1 get an opportunity.

2 DR. MONTINE: Great. Thank you. I'll be
3 sure to come back to you.

4 Dr. Follmann, you have the floor.

5 DR. FOLLMANN: Yes. Thanks. This is Dean
6 Follmann. I have two questions, and I think the
7 first one is for Dr. Massie on page 36, slide 36.
8 You did an ITT analysis, I guess, of the joint rank
9 model, and I was wondering if you also did an mITT
10 analysis of the joint rank model.

11 DR. MASSIE: Yes. I also did an mITT
12 analysis. I think the p-value for that was 0.5.

13 DR. FOLLMANN: Alright.

14 One thing I'm sort of puzzling about is the
15 strength of evidence of the survival difference
16 between the two arms. And one thing, thinking
17 through, is this really doesn't show up until after
18 the placebo crossover, so everyone is on drug, and
19 I wondered if you or Dr. Freilich had thought about
20 that aspect of the survival benefit. It shows up
21 during a period where both are on drug.

22 DR. FREILICH: Thank you, Dr. Follmann.

1 This is Dr. Freilich. I can start and see if
2 Dr. Massie wants to add anything.

3 We had that consideration as well, and we do
4 not have an explanation for why the survival
5 benefit appeared later. I think one of the other
6 things to note is that so many of the patients had
7 discontinued; that even though the applicant was
8 able to collect data, vital status data, on so many
9 patients that had originally been in this study on
10 March 1, 2021 to do the survival analysis, the
11 duration of time on drug is very variable, and we
12 noted that some patients really only received a few
13 weeks of drug, total or a few months at the most.
14 So it is one of the things that we are uncertain
15 about as well.

16 DR. FOLLMANN: I see. So there was some
17 discontinuations of drug on patients who were
18 receiving drug regardless of what they're
19 randomized to, and they're still counted in the
20 survival analysis, obviously.

21 DR. FREILICH: Exactly.

22 DR. FOLLMANN: I was sort of thinking

1 everyone was on drug more, but that's not at all
2 correct. Okay. Thanks.

3 DR. FREILICH: Exactly.

4 DR. MONTINE: Great.

5 DR. FOLLMANN: Thank you. Over.

6 DR. MONTINE: Thank you.

7 Dr. Caleb Alexander?

8 DR. C. ALEXANDER: Yes. This is Caleb
9 Alexander. For Dr. Massie, a question about the
10 non-linearity assumption.

11 Do you agree with the sponsor that the
12 non-linearity assumption was not violated? And if
13 so, then is the shared baseline model problematic,
14 setting aside the issue of deaths? Which I'll come
15 to in a second?

16 DR. MASSIE: This is Tristan Massie. I
17 believe that there's a gray area. It's very close
18 to violation. A condition was that there had to be
19 quadratic terms, couldn't have a p-value less than
20 0.10.

21 DR. C. ALEXANDER: Okay.

22 DR. MASSIE: And one of the four has a

1 p-value of 0.1016, so that's one-thousandth of a
2 point. There are other ways to look at model fit
3 like likelihood ratio tests and key information
4 criterion. Those common measures seem to suggest
5 that the quadratic model is actually a better fit.
6 So it depends on what the prespecified plan was,
7 but maybe that was not quite optimal. But I think
8 there is definitely a gray area, and we're not
9 convinced that linearity is appropriate.

10 DR. C. ALEXANDER: Okay. And the issue of
11 death, in the materials, there are varied
12 reflections on whether the shared baseline model is
13 problematic. It's problematic if there are lots of
14 deaths, but maybe not if there are a few. So there
15 were 7, I think, out of 137, or about a 5 percent
16 mortality rate if I understand, or 5 percent of the
17 subjects, the deceased within the first 24 weeks.

18 Can you just comment, is that a lot, a
19 little, clearly too many to manage with a shared
20 baseline model or not, as long as there are
21 sensitivity analyses performed?

22 DR. MASSIE: It's not a lot, but there is

1 slightly a higher proportion in the drug group,
2 which is concerning. And in addition, the model
3 assumes that after death, any missing data after
4 death, it assumes it's missing at random, which is
5 a problem and likely introduces bias. So I think
6 with slightly more deaths in the drug group, we're
7 very concerned with the model and ignoring deaths.

8 DR. C. ALEXANDER: Thank you. That's the
9 end of my questions.

10 DR. MONTINE: Thank you.

11 Mr. Weston, please?

12 MR. WESTON: I also had a question.

13 Thank you, Mr. Chair. I have a two-part
14 question if there's time for a full answer. My
15 question is for either Dr. Freilich or Dr. Massie,
16 whoever's best suited to respond.

17 Five years ago, the FDA's May 5, 2017 news
18 release announcing the approval of Radicava stated,
19 in part, that every 24 individuals receiving
20 edaravone, or Radicava, declined less on a clinical
21 assessment of daily functioning compared to those
22 receiving the placebo.

1 My question is, can you please compare the
2 changes from baseline to week 24 in ALSFRS scores
3 for both today's drug, AMX0035, as well as
4 Radicava? And if you don't have this at hand, the
5 reference to Radicava data is contained in the
6 study of Japanese persons with ALS in summarized
7 pages 7 through 9 of the FDA's approval package
8 from 2017.

9 I'll hold off on my second question just in
10 case we run out of time.

11 DR. MONTINE: Thank you.

12 DR. FREILICH: Thank you, Mr. Weston, for
13 that question. I'm going to turn to Dr. Buracchio,
14 who can answer this comparison to edaravone.

15 DR. BURACCHIO: Hi. This is Teresa
16 Buracchio. On a superficial look, the results in
17 the edaravone study do look comparable to the
18 Amylyx study, but there are marked differences
19 actually between the studies.

20 In the edaravone study, they had, I believe,
21 no deaths in this study, and Dr. Massie may be able
22 to comment on this further. But I believe they did

1 use a change from baseline analysis there that was
2 not a joint rank analysis, and that was acceptable
3 because they did not have any deaths. The actual
4 treatment difference was around 2 and a half
5 points, which is what is similar to what was being
6 reported in the Amylyx AMX0035 analysis.

7 The other difference is that the results in
8 the edaravone study were very robust. There were
9 persuasive results on the initial analysis with
10 small p-values on the primary endpoint, and then
11 also secondary endpoints were supportive and also
12 had small p-values.

13 So in that situation, the edaravone approval
14 was a single study with a similar reported change
15 on the ALSFRS, but the study results overall were
16 just much more robust than what we are seeing in
17 the AMX0035 data set, where we have a single
18 result on the primary endpoint that is a p-value of
19 .03. It's not really supported by the primary
20 endpoint, and we have questions about the
21 appropriateness of the analysis because of the
22 occurrence of deaths.

1 Dr. Massie, is there anything more you would
2 like to add to that?

3 DR. MASSIE: No, I don't really have
4 anything to add. I think you covered it.

5 DR. FREILICH: Thank you.

6 MR. WESTON: Yes, thank you. That answered
7 my second question as well, so I'm done.

8 DR. MONTINE: Thank you, Mr. Weston.

9 Dr. Fischbeck?

10 DR. FISCHBECK: Yes. I have a couple of
11 questions I guess mostly for Dr. Massie, but maybe
12 Dr. Freilich.

13 Just in terms of the impact, it seems like
14 it's best referring to the study as partially
15 randomized because the first 27 participants were
16 not randomized appropriately, and I wonder about
17 the impact of that. Is that really a problem, or
18 not, in terms of statistics? That's the first
19 question.

20 DR. MASSIE: This is Tristan Massie. I
21 think it's definitely an issue, the strength of the
22 study. Really, the gold standard is randomization

1 because it balances all other potential confounding
2 influences on the outcome, and compromising of the
3 randomization could undermine the validity of the
4 statistical inference.

5 The sponsor did look at excluding the first
6 27 patients affected by the shipping issue, but
7 that creates a different size study, and it's just
8 less than ideal to have an issue like this with a
9 small study in a single study setting.

10 DR. FISCHBECK: Thanks. My other question
11 was about revising the SAP after unblinding. It
12 seems to me that that's, well, not acceptable, and
13 you'd like to have the statistical analysis plan B
14 prospective; and is it a real problem to revise the
15 analysis plan after the data has been unblinded?

16 DR. MASSIE: Yes, that would be a real
17 problem. I think it was done because of what they
18 had seen when they first looked at the survival
19 data. I think they claimed that the author of the
20 revised SAP hadn't had any access to the data, and
21 there was actually only one minor change to the
22 analysis plan, and it was adding an additional

1 covariate based on ALSFR in the Cox regression.
2 But I think the real issue is elevating time to
3 death alone endpoint because, really, it wasn't
4 listed in the objectives or the endpoints for the
5 open-label extension before [inaudible].

6 DR. FISCHBECK: Thanks.

7 DR. MONTINE: Thank you.

8 Next on our list is Dr. Robert Alexander.

9 DR. R. ALEXANDER: Thanks, Dr. Montine.

10 This is Robert Alexander. I have a question for
11 Dr. Freilich related to slide 11 when you're
12 talking about potential differences between the
13 treatment groups.

14 You noted that there was a higher proportion
15 of patients in the placebo group that were on
16 standard of care at study entry, and that was
17 something possibly in favor of placebo. But isn't
18 it an alternative explanation that that reflects
19 that they were actually more advanced or had more
20 severe illness, and that's why their doctors had
21 initiated that treatment prior to the study start?

22 Comment on that. Thanks.

1 DR. FREILICH: Thank you, Dr. Alexander, for
2 that question. This is Dr. Freilich.

3 Yes, I would agree with you that that was
4 one of our concerns as well, that the reason for
5 the imbalance could have been the reverse; that
6 patients on placebo may have been worse or be more
7 aggressively treated. It seems like the imbalances
8 could influence the study in any number of ways.
9 We wanted to point out, though, the fact that there
10 were more patients in the placebo arm on
11 concomitant medications as kind of a fact that also
12 may have led to differentiation in the progression
13 of the disease.

14 DR. MONTINE: Thank you.

15 Dr. Follmann?

16 DR. FOLLMANN: Yes. This is a question for
17 Dr. Freilich I guess partly related to your
18 emphasis on the bar, I guess, for a single study,
19 and you want the evidence to be quite persuasive
20 and so on.

21 The sponsor talked about, I would say, that
22 the CENTAUR study was relatively a more homogeneous

1 inclusion criteria relative to PHOENIX, which was
2 more heterogeneous. And I wonder if you had
3 thoughts about if, in fact, that's true, that
4 CENTAUR had more restrictive inclusion criteria and
5 how this single study might be applied more
6 generally to people in the U.S. with ALS. Over.

7 DR. FREILICH: Thank you for that question.
8 I don't know if we can speak to how homogeneous the
9 population was in total. Like we said, we do
10 notice imbalances in the population, and there
11 likely are others that are not measured. However,
12 their point is correct that the inclusion criteria
13 were a little more restrictive in the CENTAUR
14 study, where the patients had to have certain
15 criteria in terms of the rate of decline at the
16 time of initiation of the study, which was their
17 attempt to capture a population in which you might
18 be more likely to see a treatment benefit.

19 In terms of the applicability to the U.S.
20 population, I think it's hard to know because --

21 DR. FOLLMANN: Okay. Thank you.

22 DR. FREILICH: -- of the unseen differences.

1 DR. FOLLMANN: Right. Thank you.

2 DR. MONTINE: Thank you.

3 Dr. Caleb Alexander, please?

4 DR. C. ALEXANDER: Yes. Caleb Alexander,
5 and just a small point about eligibility or
6 enrollment in the open-label extension.

7 I thought in the briefing materials we were
8 provided, the sponsor said that 92 percent of
9 eligible patients that completed randomization were
10 enrolled, whereas the FDA, I believe you're
11 reporting that 66 percent enrolled. There are a
12 number of other questions and, I think, concerns
13 that have been raised. But if I understood
14 correctly, I was just curious what accounted for
15 that discrepancy.

16 DR. FREILICH: Sure, Dr. Alexander. Thank
17 you for that question. I believe the discrepancy
18 is that we were mentioning that 66 percent of the
19 total population did not enroll. So from the
20 initial 189 patients, there was only
21 34 percent -- only 66 percent continued into the
22 study; 34 percent did not.

1 The applicant was mentioning that of the
2 patients who completed the study on drug, which
3 would be the eligible patients to continue into the
4 open label, 92 percent of those did continue.

5 DR. C. ALEXANDER: Oh, I see. Okay. Thank
6 you. That's very helpful.

7 Then a question about plasma neurofilament
8 heavy chain; can you speak a little bit, or someone
9 on the FDA team, about the totality of evidence
10 supporting its utility as a valid biomarker in this
11 disease?

12 DR. FREILICH: Sure.

13 Dr. Buracchio or Dr. Dunn, would you like to
14 talk about the biomarker data for NF, neurofilament
15 heavy chain?

16 DR. BURACCHIO: Hi. This is Teresa
17 Buracchio. We've seen neurofilament assessed as a
18 biomarker in a number of neurodegenerative
19 diseases. It is thought to be a marker of neuronal
20 injury or axonal injury, and it appears to be
21 elevated in patients with neurodegenerative
22 processes.

1 As I mentioned, it's being studied in many
2 different neurologic diseases, particularly the
3 neurodegenerative diseases. I think that there are
4 some data that show in a variety of different
5 diseases that levels are elevated and may track
6 with the disease course. It is being examined as a
7 biomarker in a number of studies. It is not yet at
8 a point where we would -- we still consider it
9 exploratory, but we do see it as a promising
10 biomarker.

11 So it is being included in a lot of studies,
12 with the idea that if there is a reduction in
13 neurofilament levels, that that would be suggestive
14 of an effect on the slowing or reducing the
15 degeneration being seen in the disease.

16 I think, as I mentioned, we're at the point
17 now where we see this as a promising biomarker, but
18 we still see it as an exploratory biomarker, and
19 we're hoping to continue to collect more data on it
20 to see how useful it will be in clinical trials
21 going forward.

22 DR. C. ALEXANDER: Thank you. That's really

1 helpful. So out of curiosity, in the trials of the
2 other two products that have been FDA approved for
3 the treatment of ALS, was plasma neurofilament
4 heavy chain assessed, and if so, did it track with
5 disease progression or with drug exposure in the
6 expected directions?

7 DR. BURACCHIO: it's a relatively new
8 biomarker, I think maybe in the last five or maybe
9 10 years; I'm not exactly sure how long. We've
10 been seeing it more and more over the last three or
11 four years, I would say.

12 DR. C. ALEXANDER: Yes.

13 DR. BURACCHIO: The riluzole program, which
14 was approved in the '90s, would not have had that.
15 I think even the edaravone program, I believe that
16 edaravone was approved around 2016, I think, and
17 even that may have been a bit early for the
18 inclusion of neurofilament in those studies. So,
19 unfortunately, we don't have any information on how
20 that performs in those studies.

21 Billy, do you want to comment? Dr. Dunn?

22 DR. DUNN: Sure. I'm happy to. This is

1 Billy Dunn.

2 Thank you, Dr. Alexander for that important
3 question. We think it is a very important question
4 to ask. The way you phrased your question raises a
5 lot of issues using things like validated biomarker
6 and the breadth of its use.

7 I think something very important to keep in
8 mind here -- I certainly concur with what
9 Dr. Buracchio said -- is neurofilament light we
10 feel is an important aspect of the development
11 program targeted at mitigating neurodegeneration.
12 It is a marker of neurodegeneration or neuronal
13 injury, and should we have seen an effect on
14 neurofilament, we would have paid attention to
15 that. We asked about it directly, several times,
16 during development with the sponsor.

17 It is a measure that while not suitable for
18 use as a stand-alone measure, one could certainly
19 envision a situation where an effect in what
20 ostensibly is a beneficial direction here would
21 have provided important contextual and supportive
22 information of, again, an ostensibly beneficial

1 effect on the clinical measure.

2 Similarly, the lack of an effect here on
3 that measure is something which we found to be part
4 of the overall character of data that we see that
5 does not provide robust support for the primary
6 measurement, so we think it's appropriate to
7 capture here.

8 Quite honestly, in the interest of having an
9 effective medication available to ALS patients, I
10 think all of us in this space would have preferred
11 to have seen a directional benefit there that was
12 convincing. We didn't, and we think that is of
13 some concern in the overall picture that shouldn't
14 be construed as elevating the use of neurofilament
15 to some kind of independent measure that's suitable
16 on its own for assessment. But we do think it's a
17 very important part of the contextual picture, and
18 we do see it used fairly broadly in these types of
19 diseases.

20 I hope that answer helps flesh out what
21 you've already heard.

22 DR. C. ALEXANDER: Yes, it does. Thank you

1 very much.

2 DR. MONTINE: Thank you.

3 Dr. Jones, I saw your hand was up, but it's
4 now down. Did you have a question you'd like to
5 ask?

6 (No response.)

7 DR. MONTINE: Well, we've reached time, and
8 we can follow up with Dr. Jones --

9 DR. JONES: Oh, I'm sorry. I was on mute.
10 I am so sorry.

11 DR. MONTINE: No problem at all.

12 DR. JONES: Can I do my question? Is that
13 ok?

14 DR. MONTINE: Please do.

15 DR. JONES: Okay. My question was related
16 to, I believe Dr. Massie stated that one of his
17 major concerns was about the elevation of the time
18 of death, using as an endpoint or measure.

19 My question is, if a study does show
20 something as significant as a change of time in
21 death, what would the FDA have liked to have seen
22 by the applicant if one of these findings is

1 something that was not indicated in the original
2 intent of the study? Thank you.

3 DR. MASSIE: This is Tristan Massie. I
4 think given that it was not in the objectives or
5 endpoints for the open-label extension,
6 specifically as time to death alone -- a survival
7 difference can occur due to chance alone at a rate
8 of 1 in 20 trials -- you have to be careful about
9 it wasn't listed in the endpoints. We consider the
10 low prior expectation of a survival benefit here.
11 When we are left with a borderline p-value, it
12 doesn't add up to a take-away belief, a strong
13 take-away belief of a true survival difference.

14 DR. MONTINE: Thank you.

15 DR. FREILICH: This is Dr. Freilich. I just
16 wanted to add -- sorry, Dr. Montine.

17 Let me just add to that, that I think that
18 was a good question, Dr. Jones. I think if we saw
19 a benefit on death, we obviously consider that very
20 important, as you mentioned, so we definitely would
21 look at it, and analyze it, and consider it
22 meaningful even if it wasn't prespecified.

1 However, the concerns here are with the
2 persuasiveness of the results and the fact that it
3 was not expected and not prespecified, work against
4 it to decrease the persuasiveness when we already
5 had some concerns about the interpretation of the
6 survival benefit.

7 DR. MONTINE: Thank you, Dr. Freilich.

8 Thanks to the panel members, Dr. Freilich,
9 Dr. Massie. Thank you, all, for a great
10 discussion. It's now time to break for lunch.
11 We'll reconvene at 1:55 Eastern time, so that's
12 just under 45 minutes from now.

13 Two comments for the panel members; please
14 return about five minutes early so we can be sure
15 everyone is online and ready to go at 1:55. Also,
16 panel members, please remember that there should be
17 no discussion of the meeting topics with other
18 panel members during the break.

19 Okay. We'll reconvene at 1:55. Thank you.

20 (Whereupon, at 1:14 p.m., a lunch recess was
21 taken.)

22

1 A F T E R N O O N S E S S I O N

2 (1:58 p.m.)

3 **Open Public Hearing**

4 DR. MONTINE: Welcome back. I'm the chair
5 of the Peripheral Central Nervous System Drug
6 Advisory Committee meeting, and we will now begin
7 the open public hearing session. I have a few
8 comments before we begin

9 Both the FDA and the public believe in a
10 transparent process for information gathering and
11 decision making. To ensure such transparency at
12 the open public hearing session of the advisory
13 committee meeting, FDA believes that it is
14 important to understand the context of an
15 individual's presentation.

16 For this reason, FDA encourages you, the
17 open public hearing speaker, at the beginning of
18 your written or oral statement to advise the
19 committee of any financial relationship that you
20 may have with the sponsor, its product, and if
21 known, its direct competitors. For example, this
22 financial information may include the sponsor's

1 payment of your travel, lodging, or other expenses
2 in connection with your participation in the
3 meeting.

4 Likewise, FDA encourages you, at the
5 beginning of your statement, to advise the
6 committee if you do not have any such financial
7 relationships. If you choose not to address this
8 issue of financial relationships at the beginning
9 of your statement, it will not preclude you from
10 speaking.

11 The FDA and this committee place great
12 importance in the open public hearing process. The
13 insights and comments provided can help the agency
14 and this committee in their consideration of the
15 issues before them.

16 That said, in many instances and for many
17 topics, there will be a variety of opinions. One
18 of our goals for today is that this open public
19 hearing be conducted in a fair and open manner,
20 where every participant is listened to carefully
21 and treated with dignity, respect, and courtesy.
22 Therefore, please only speak when recognized by the

1 chair. Thank you very much.

2 We have 26 speakers. You'll see the clock
3 up in the corner that will count down the time
4 that's allotted to you. Please do your best to
5 keep to time, and I will gently remind you if
6 you're exceeding the time allotted.

7 With that, speaker number 1, your audio is
8 now connected. Will speaker number 1 begin and
9 introduce yourself? Please state your name and any
10 organization you are representing for the record.

11 MR. DERBY: Thank you. My name is Jeff
12 Derby. I live in White Rock, British Columbia
13 Canada. I am not receiving any payment from Amylyx
14 for my presentation today.

15 I feel the reason I can even talk to you
16 today is a result of having accessed AMX0035. My
17 journey is similar to many ALS patients. In 2017,
18 I had weakness in my hand during a fishing trip but
19 did not take it too seriously. Several months
20 later, I noticed weakness again, and then we
21 started the process of visiting our family doctor,
22 three different [indiscernible], three

1 neurologists, before I was diagnosed with ALS July
2 2018.

3 Looking back, we could see from videos that
4 I had nerve twitching in my arm during the summer
5 of 2016 [indiscernible]. The message the
6 neurologists gave me and my family was plan your
7 affairs, travel all you can, eat whatever you want
8 because the timeline for survival is 2 to 5 years.

9 The only treatment available to me in 2018
10 was riluzole, which would slow down ALS by a few
11 months. At that time, edaravone was not approved
12 or available except through the special access
13 program. I applied and was approved for the SAP to
14 receive edaravone. This is not an easy treatment
15 as it requires a port, a home nurse, and 10 days a
16 month of infusions. I have been receiving
17 edaravone since December 2018.

18 My family became my research team, as our
19 neurologists had no information about the trials.
20 They found the AMX0035 trial out of the Swedish
21 Medical Center in Seattle, Washington. I was
22 accepted and began the trial in September 2018.

1 After the trial period ended March 2019, I was
2 given the opportunity to go on open label and now
3 currently receive the drug on a compassionate care
4 program.

5 I started, as I said, with weakness in my
6 right hand and arm, and it has moved to my left
7 hand and arm, as well as my legs are feeling
8 weaker. The difference from many, although I'm
9 weaker now, I can still use my arms, legs, as well
10 as talk, breathe, and continue to drive. I'm still
11 independent. This is after almost four years from
12 diagnosis, six years from onset.

13 I believe every ALS patient should have the
14 opportunity to have AMX0035 because I've seen real
15 benefits. It is not a cure, but in my real-life
16 results, I have seen six ALS patients in my social
17 circle over the past two years pass away without
18 it, and yet their timeline was similar to mine, and
19 most were receiving riluzole and edaravone
20 treatments. I have been taking probiotics to help
21 with any GI side effects and have found warm to hot
22 water helps with the taste. Compared to edaravone,

1 AMX0035 is very easy to take.

2 I ask today that you consider this decision
3 as if you have a personal connection to somebody
4 with ALS, a parent, brother or sister, a son or
5 daughter, or a friend. AMX0035 trial results
6 showed an improvement, extending life for many.
7 Even if it is only 6 and a half months, would you
8 not want that for them?

9 For the ALS patient, I do see a better
10 future. There are so many trials in the
11 [indiscernible], but today we need AMX0035 for all
12 ALS patients so they can be alive for the future.
13 Thank you for your time.

14 DR. MONTINE: Thank you. Thank you very
15 much.

16 Speaker 2, your audio is now connected.
17 Will speaker 2 begin and introduce yourself?
18 Please state your name and any organization you are
19 representing for the record.

20 MR. BURGHARD: Good afternoon. For the
21 record, my name is Vance Burghard. I was diagnosed
22 with ALS in December of 2017. I've been a

1 participant in the CENTAUR trial since March of
2 2018. I am not being compensated for my testimony,
3 nor do I have any financial interest in the
4 company. I apologize for my voice. It's very soft
5 due to a non-ALS related viral infection that has
6 affected my vocal cords.

7 At the time of my diagnosis in '17, I was
8 experiencing extreme weakness in my arms, as well
9 as my hand-grip strength. I was having hand
10 tremors, which made it necessary to use two hands
11 to hold a cup of coffee. Dressing was extremely
12 difficult. I needed assistance to pull up my
13 pants, and zipping them required help or adapted
14 tools. I could not get my arms up high enough to
15 put a T-shirt on by myself.

16 Eating had also become difficult. I had to
17 have my food cut for me. I was also experiencing
18 muscle twitches in my lower back, upper arms, neck,
19 with drumming in my ears. Walking had become
20 extremely difficult, and I required a wheelchair to
21 get to my appointments throughout the Mayo Clinic
22 during my diagnosis in December. I was fitted for

1 a leg brace at the time to help address the foot
2 drop. I had to stop working in my store because I
3 no longer had strength or stamina, stock shelves,
4 or help customers. My wife had become my caregiver
5 to help me through the day.

6 On the return home from Mayo, I was put on
7 prescriptions of riluzole and for Radicava. I was
8 asked by my neurologist at Oregon Health Science
9 University if I'd be interested in participating in
10 a clinical trial for a new drug. I then began the
11 clinical trial of AMX0035 in March of 2018.

12 My first strength assessment found a grip
13 strength in my hands of 18 pounds. My arm and leg
14 strength were both extremely low. I started to
15 notice an improvement in my strength and mobility
16 by June 2018. In August, I drilled a 100-square
17 feet deck, digging post holes, mixing and pouring
18 concrete, and cutting the lumber and attaching the
19 deck.

20 My wife and I also began to travel again,
21 and I no longer needed a wheelchair around
22 airports, although I was still using my brace. By

1 the end of the year in 2018, I was able again to
2 work and oversee the daily operation of my business
3 and continuing to teach my daughter, who now owns
4 the business, its operation.

5 This drug has greatly improved my quality of
6 life and that of my wife, children, and
7 grandchildren. In 2018, '19, and '20, we were
8 again regularly traveling. We've walked many
9 miles, in Europe, in the Great Wall of China, and
10 ascending the stairs to Potala Palace in Tibet.
11 Three years ago, I would never have thought this.

12 My health and strength seemed to have
13 stabilized. Although I am not back a hundred
14 percent, grip strength is now 70 pounds in both
15 hands. I am no longer using a foot brace and fully
16 independent and capable of living a quality life,
17 enjoying times and travel with my family.

18 AMX0035 for me is a life-saving and
19 life-changing drug. I ask that you quickly move
20 forward in approving the treatment of ALS so that
21 others affected with this disease [indiscernible].
22 Thank you.

1 DR. MONTINE: Thank you.

2 Speaker 3, your audio is now connected.

3 Will you begin, please, by introducing yourself?

4 Please state your name and any organization you are
5 representing for the record.

6 (No response.)

7 DR. ABRAMS: Hello?

8 DR. MONTINE: Speaker 3, you may be muted.

9 (No response.)

10 DR. MONTINE: Hello again. Speaker 3, this
11 is Tom Montine. If you can hear me -- I can't hear
12 you. I don't think any of us can hear you, so you
13 may still be muted.

14 Hello?

15 DR. ABRAMS: Hi. This is Dr. Abrams. Am I
16 connected now?

17 DR. MONTINE: You are. Thank you. Please
18 go ahead.

19 DR. ABRAMS: Okay. Forgive me for the
20 misconnection there.

21 DR. MONTINE: No problem.

22 DR. ABRAMS: Good afternoon, everyone. I'm

1 Michael Abrams from Public Citizen's Health
2 Research Group. I have no conflicts of interest.

3 At present today, we oppose FDA's approval
4 of AMX0035. We agree with the critique of FDA
5 scientists detailed in the briefing document. The
6 phase 2 trial of interest enrolled only
7 137 subjects. Early problems with the placebo
8 supply prevented randomization of the first
9 27 subjects, as we've heard. Summary statistical
10 values were marginal; primary endpoint effect sizes
11 modest; dropout rates high; and statistical
12 modeling questionable.

13 The sponsor disregarded the FDA's
14 recommendation to use joint rank analyses of
15 function and survival. Analyses of secondary
16 endpoints did not show any benefit. As with many
17 small trials, of course, group imbalances and
18 baseline disease characteristics, and
19 post-enrollment initiation of other drugs plausibly
20 compromised this study's validity and may have
21 biased the primary efficacy results.

22 The subsequent open-label extension study,

1 according to FDA scientists, was, quote, "difficult
2 to interpret," and, quote, "not persuasive" because
3 of its open-label design and also because of
4 substantial dropout rate and flawed statistical
5 analyses.

6 Per the FDA's penultimate briefing statement
7 in the briefing packet, the agency may rely on,
8 quote, "a single large multicenter trial to
9 establish effectiveness," close quote. However,
10 the FDA also has appropriately stated that such
11 reliance should, quote, "generally be limited to
12 trials that demonstrate a clinically meaningful and
13 statistically very persuasive effect," close quote,
14 which was not the case with this drug, AMX0035.

15 Notably, in 2017, the FDA published a report
16 documenting numerous cases of favorable phase 2
17 clinical trial results that were not confirmed in
18 subsequent phase 3 trials. Unfortunately, such a
19 scenario is highly likely for AMX0035.

20 In conclusion, there is lack of substantial
21 evidence of effectiveness for AMX0035 for treating
22 ALS. The FDA must wait for the results of an

1 ongoing phase 3 trial before considering approval
2 of this drug. We thus urge the advisory committee
3 today to vote no on the key question before you.

4 Finally, although the FDA has, quote, "long
5 stressed the appropriateness of exercising
6 regulatory flexibility to drugs for serious
7 diseases," such as this one, "with unmet need," it
8 must do so, as the agency has also said, quote,
9 "while preserving appropriate assurance of safety
10 and effectiveness." In this case we believe such
11 flexibility is unacceptable given the lack of
12 assurance of effectiveness. Thank you.

13 DR. MONTINE: Thank you.

14 Speaker 4, your audio is now connected.
15 Will speaker 4 please begin by introducing
16 yourself. Please state your name and any
17 organization that you are representing.

18 MS. BALAS: Good afternoon. My name is
19 Calaneet Balas, and I'm the president and CEO of
20 the ALS Association. I want to thank you for the
21 opportunity to provide public comment today
22 regarding the new drug application for AMX0035.

1 I'm here today speaking on behalf of over
2 20,000 people living with ALS and their loved ones
3 that the association represents, asking the
4 committee to recommend AMX0035 for FDA approval.

5 We are an initial funder of Amylyx's CENTAUR
6 trial. We have committed \$2.2 million to the
7 research behind AMX0035, and we stand to be repaid
8 up to 150 percent of our investment through a
9 standard repayment clause, all of which will go
10 back into our research program to invest in more
11 research. But we would be here today for any
12 potential ALS therapy that is safe and offers
13 clinical benefit.

14 Based on all the evidence we've seen,
15 AMX0035 is both safe and effective. As FDA
16 acknowledged in its 2019 ALS guidance, people with
17 ALS are willing to accept greater risk both in
18 terms of safety and uncertainty of benefit given
19 the devastating nature of this disease.
20 Thankfully, in the case of AMX0035, the question of
21 safety has been answered, as it includes two
22 already pre-approved compounds with known safety

1 profiles.

2 As for effectiveness, AMX0035 has been shown
3 to slow down disease progression, and as you know,
4 the open-label extension study showed several
5 months of increase in survival. Some might not see
6 several months as meaningful, but as you have heard
7 from the ALS community, including at our We Can't
8 Wait meeting last May, several months is very
9 meaningful, especially when the average life
10 expectancy after diagnosis is 2 to 5 years. That
11 could mean an opportunity to attend a wedding, a
12 graduation, or see a new birth.

13 We have included comments from people with
14 ALS and their families in our written comments and
15 strongly encourage you to read them. There's no
16 ethical or scientific justification today to delay
17 access to AMX0035 for people living with ALS.
18 AMX0035 complements and does not duplicate any
19 other ALS treatment. Every year of delay in
20 approval will result in thousands of life-years
21 lost.

22 We also believe the FDA is asking the wrong

1 question today. The question appears to overlook
2 the agency's guidance that the speed and severity
3 of ALS and the few treatment options available are
4 relevant. A better question would be, do we know
5 enough about the safety and effectiveness of
6 AMX0035 to make it a treatment option for people
7 living with ALS today? To which the answer would
8 be a definitive yes.

9 We all want certainty, but the only
10 certainty today is that ALS is cruel and it is
11 fatal. So I ask the committee to consider this.
12 Given the strong safety record of AMX0035 and the
13 compelling clinical benefit for trial participants,
14 is it better to approve the drug immediately and
15 take the chance that a fraction of the people who
16 receive it might not benefit from it, or is it
17 better to recommend to delay the approval and take
18 the chance that thousands of people who want this
19 drug will progress further and potentially die
20 sooner than necessary? The worst outcome in this
21 case is not approving AMX0035.

22 We believe, based on the science and the

1 certainty of this devastating and deadly disease,
2 the choice is clear. Please exercise optimal
3 regulatory flexibility and recommend the approval
4 of AMX0035. My ask is the same ask I had in May of
5 2021. In an unfair, unequal, and unjust world, can
6 we as leaders in different roles in this community
7 lean in and use the FDA guidance and the regulatory
8 flexibility to get safe and promising treatments to
9 people with ALS as fast as possible?

10 This committee -- you -- have that
11 opportunity today. Thank you again for the
12 opportunity to address the committee.

13 DR. MONTINE: Thank you.

14 Speaker 5, you are now connected to the
15 audio. Would you please begin by introducing
16 yourself? State your name and any organization
17 that you may represent.

18 MR. KOWALSKI: My name is Steve Kowalski,
19 and I have no conflict of interest to disclose, and
20 I am representing no organization. I am 58 years
21 old. I was diagnosed with ALS in 2017. I have
22 three adult children who take turns providing me

1 with care; if you can see the picture I submitted
2 there in the forefront with me at our first ALS
3 fundraising event back in 2018.

4 Because of ALS, I retired after 34 years in
5 high-tech. I've been faced with many complex
6 challenges, both personally and professionally.
7 ALS by far is the most difficult and complex
8 endeavor I have ever encountered, however, those
9 that know me well know I never give up. ALS has
10 taken many things, but not my hope, optimism, and
11 determination.

12 Speaking of optimism, since 2017, I have
13 seen increased funding for ALS research.
14 Conversely, what I don't see is the same progress
15 in ALS drug development coming to market. The FDA
16 2019 ALS guidance continues to be tested with the
17 submission of AMX0035, particularly exercising
18 regulatory flexibility and applying the statutory
19 standards to drugs for serious disease with unmet
20 medical needs, while preserving safety and
21 effectiveness.

22 AMX0035 showed benefit with retention of

1 function and increase in survival. It is safe and
2 well tolerated with minimal side effects. Based on
3 this data and under the care of my neurologist, I
4 decided to compound this treatment myself. I can
5 report the same safety and tolerance results,
6 however, the out-of-pocket costs has financial
7 impact.

8 The essential question for me is whether the
9 phase 2 data is strong enough for recommended
10 approval or is more data needed from a multi-year
11 phase 3 trial? I believe there is enough evidence
12 for this advisory committee's approval and full FDA
13 approval. Waiting several years is too long.
14 Sadly, many people living with ALS will no longer
15 be with us by then.

16 That essential question also forces us to
17 put a value on function and survival data. Any
18 additional time with loved ones or function to
19 touch a loved one has a measurable value.
20 Scientists and researchers rightly focus on
21 p-value. What about H value? Human value. More
22 time and function is valuable to every human being.

1 We know what ALS looks like. We see its
2 devastating physical effects. I want to share my
3 perspective on what ALS feels like. To me, ALS
4 feels like I'm being buried alive. For some it's
5 slow; others, very quickly. Either way it ends in
6 the same exact way, with one final breath.

7 I want to take a moment to honor those ALS
8 patients who died during the participation in the
9 CENTAUR trial. I will continue to advocate with
10 every breath I have. I ask you to help me make ALS
11 a livable disease until we find a cure. Thank you
12 for your time.

13 DR. MONTINE: Thank you.

14 Speaker 6, your audio is now connected.
15 Will you please introduce yourself? State your
16 name and any organization you are representing.

17 DR. BEDLACK: Hello, everyone. My name is
18 Richard Bedlack. I have an MD and a PhD in
19 neuroscience. I'm currently professor of neurology
20 and director of the ALS clinic at Duke University.
21 I'm also a paid consultant for several companies,
22 including Amylyx. I'm not being paid anything for

1 my testimony today, and the viewpoints I'm
2 expressing are my own.

3 Over the past 21 years, I've helped design,
4 conduct, monitor, and review studies on dozens of
5 experimental ALS therapies. The vast majority
6 showed no benefit. ALS research is difficult. My
7 other job, it's even harder. I've been a
8 neurologist for more than 3,000 people with ALS.
9 Sadly, I've had to watch most of them become
10 rapidly disabled and die prematurely with no
11 effective treatment.

12 In my opinion, the trial of AMX0035 was well
13 designed and well conducted. I understand that it
14 has some minor flaws, but every trial does. The
15 most serious criticisms of the review committee I
16 believe were thoroughly debunked by Dr. Shefner in
17 his recent presentation.

18 The best available ALS outcome measures,
19 ALSFRS-R progression, and tracheostomy-free
20 survival were utilized, and these were analyzed in
21 exactly the right ways. The conclusions published
22 in two of the world's most prestigious peer-

1 reviewed medical journals are justified. This drug
2 slows disability and prolongs survival to
3 statistically and clinically significant degrees,
4 and it appears safe.

5 I understand the scientific desire to
6 replicate these results in another trial, however,
7 another trial will take three years, during which
8 time half of the 20,000 Americans currently living
9 with ALS will die from it. If the FDA can employ
10 conditional approval for an Alzheimer's drug that
11 has not yet shown clear clinical benefit, why can't
12 this pathway be employed to get AMX0035 into the
13 hands of people living with ALS while the
14 confirmatory study is conducted?

15 One of my patients once described ALS as
16 follows: "It's like I'm living in a box. Every
17 day it gets smaller on all sides, further
18 restricting my movements. One day it's going to
19 get so small and tight that it's going to crush the
20 life out of me."

21 Imagine if that was you or your loved one
22 facing that horror. After reading the

1 peer-reviewed publications on the AMX0035 trial,
2 wouldn't you want access to this drug, even if its
3 benefits have not been confirmed in a second trial?
4 I know I would. Thank you.

5 DR. MONTINE: Thank you.

6 Speaker 7, your audio is now connected.
7 Would you please begin by introducing yourself?
8 Please state your name and any organization you may
9 represent for the record.

10 DR. ZUCKERMAN: I'm Dr. Diana Zuckerman,
11 president of the National Center for Health
12 Research. We scrutinize the safety and
13 effectiveness of medical products, and we don't
14 accept funding from companies that make those
15 products. My expertise is based on my
16 post-doctoral training in epidemiology and public
17 health, and as former staff at HHS, and a faculty
18 member and researcher at Yale and Harvard.

19 ALS is a devastating disease, and all of us
20 want better treatments to be available as soon as
21 possible, but today's question is different. Do
22 the data from these two studies support a

1 conclusion that AMX0035 is an effective treatment
2 of ALS? Your vote will set a precedent for other
3 FDA decisions just as FDA's approval of Aduhelm set
4 a very unfortunate precedent, where science was
5 ignored, delaying the research evidence that
6 patients need and deserve.

7 AMX0035 combines Turso and PB, and Turso
8 alone was very effective for ALS patients in a
9 small pilot study, and a large study of that same
10 supplement will be completed this year. You can
11 see it on clinicaltrials.gov. Meanwhile, any
12 patient can buy Turso for 47 cents a pill on
13 Amazon. Why not wait till that study's done since
14 there's no clinical evidence supporting PB?

15 Now let's focus on the strengths and
16 weaknesses of the sponsor's two studies. The
17 biggest problem with the open-label extension is
18 that it had no control group, and most patients
19 dropped out after a year. Only two patients
20 completed treatment. That tells you that there's a
21 serious problem with AMX0035. We agree with the
22 FDA that the extension data don't support approval.

1 The RCT had one terrible flaw. FDA advised
2 the company to create a combination measure of
3 function and survival, but the company refused, and
4 when looking at the survivors, many patients in the
5 experimental group had stopped taking AMX0035, so
6 they should not have been counted as AMX0035
7 survivors. In fact, there were five deaths among
8 AMX0035 patients and two in placebo. Since the
9 placebo group was half as big, that means
10 approximately equal mortality in both groups.

11 Here are just a few of the other flaws: a
12 small change in the primary endpoint, but almost
13 1 in 5 patients didn't complete that measure;
14 95 percent of patients were white compared to
15 75 percent with ALS in real life; and the question
16 about whether the test was really blinded. We
17 agree with FDA that the secondary endpoint results
18 are not compelling or supportive of the primary
19 endpoint.

20 In conclusion, Turso looks promising for ALS
21 and it's already available on Amazon for 47 cents a
22 pill. A large multicenter clinical trial will be

1 completed this year. Why not wait till that study
2 is done and also consider interim results of the
3 sponsor's larger study of AMX0035 when there are
4 enough data to find out if their drug really works?

5 Thank you so much.

6 DR. MONTINE: Thank you.

7 Speaker 8, your audio is now connected.

8 Would you please begin by introducing yourself?

9 Please state your name and any organization that
10 you represent for the record?

11 (No response.)

12 DR. MONTINE: Speaker 8, you may be muted.

13 We're not hearing you.

14 MS. B. MOUREY: My name is Becky Mourey, and
15 I am living with ALS. Thank you for the
16 opportunity to speak to you. ALS is stealing my
17 voice -- [indiscernible].

18 MS. A. MOUREY: "Do you remember those
19 inflatable punching bags many of us had as kids?
20 Once struck, it would bounce back up, just to be
21 hit again, and again, and again. This is what
22 living with ALS is like. Out of the blue, it

1 strikes.

2 "My first gut punch was in June of 2020 with
3 weakness in my right index finger. At this time, I
4 was regularly enjoying trail runs in the woods near
5 my home. My profession as a musician and private
6 music teacher was still a huge part of my life and
7 my identity. As summer progressed, so did my
8 symptoms. In October of 2020, an EMG was deemed
9 concerning for ALS. By then I had already taken a
10 hard fall on a run and had to transition to
11 walking. My fingers were suddenly too weak to seal
12 the key holes on my clarinet. Running and music
13 were now in the past.

14 "With each strike, people with ALS are
15 forced to grieve another loss, process that loss,
16 pivot and adapt, only to be struck again, and
17 again, and again. Much like that armless toy
18 punching bag, people with ALS have almost nothing
19 to fight back with.

20 "You're an advisory committee. You're here
21 to consider whether or not the data from the
22 CENTAUR trial is worthy of your recommendation for

1 approval of AMX0035. I am here to tell you it is.
2 The CENTAUR trial met its primary outcome on a
3 trial design approved by the FDA. It showed a
4 slowing of progression and loss of function, as
5 well as an average increased survival of 6 and a
6 half months. It is the first ALS therapy to both
7 increase survival and slow loss of function.

8 "AMX0035 has outperformed the two mediocre
9 therapies currently on the market. It has proven
10 to be safe. The most common side effects are GI
11 related. When facing the horrific death that ALS
12 dictates, considering constipation and diarrhea
13 adverse effects is almost comical.

14 "AMX0035 is a combination of sodium
15 phenylbutyrate, a drug approved by the FDA in 1996
16 to treat a urea disorder in infants, and Tudca, a
17 supplement you and I can order on Amazon and have
18 tomorrow. People living with ALS have wanted this
19 drug since the day the positive results became
20 public in December 2019, well over two years ago.
21 To deny us access is cruel.

22 "While waiting to gain access to AMX0035, I

1 have gone from being a fiercely independent person
2 to being dependent on others for the most basic
3 needs. Because the components are so readily
4 available, people, including myself, are taking
5 them off label. But this is not the same as having
6 access to AMX003535, and it presents real safety
7 and equity issues that approval would solve. It is
8 quite expensive, not compounded the same, and its
9 supplements are not regulated. It is not pharma
10 grade.

11 "Approving and regulating AMX0035 is the
12 best way to serve the ALS community and to protect
13 us. Because I am on PB off label, I am excluded
14 from participating in clinical trials of
15 investigational therapies. The sooner AMX0035 is
16 made standard of care, the sooner I and everyone
17 else on PB can get back to lending ourselves to
18 science.

19 "We deserve a chance to see if AMX0035 will
20 give us a reprieve in between hits. Slowing down
21 the loss of function is significant to me and my
22 family. More time to tell my children I love them

1 with my own voice is everything to me. Eating with
2 my family and tasting every savory morsel for a
3 little longer before losing my ability to swallow
4 directly equates to quality of life."

5 MS. B. MOUREY: [Indiscernible] -- to
6 recommend the approval of AMX0035. Thank you.

7 DR. MONTINE: Thank you.

8 Speaker number 9, your audio is now
9 connected. Would you please begin by introducing
10 yourself? Please state your name and any
11 organization that you represent.

12 MR. GOLJI: Hi. My name is Javad Golji.
13 I'm here to testify on behalf of myself, my wife,
14 Christina who has ALS, and my three children. I do
15 not work for Amylyx or any of its competitors. I
16 have no financial relationship with them. I do
17 drug discovery research in the oncology space, but
18 today I'm here to testify as a patient's family.

19 Christina was diagnosed with ALS 5 years and
20 6 days ago at the age of 31. At the time of her
21 diagnosis, she was given two years to live. One of
22 the first things we did once we had diagnosis was

1 go explore, look for, and find upcoming and
2 promising clinical trials, anything that would help
3 overturn this death sentence.

4 Christina joined the AMX0035 clinical trial
5 as patient number 1, and she has been on the
6 open-label extension until today. From our
7 experience, AMX0035 has played a big part in
8 delaying -- 2-year to now 5 years and
9 running -- Christina's private life with ALS and
10 delaying the progression of disease. Two times
11 throughout this time, Christina took a break from
12 taking AMX0035, once between the end of the trial
13 and the start of the extension, and once again
14 before the start of the open extension, and during
15 both breaks we noticed a measurable increase in her
16 disease progression.

17 The way Christina's ALS has progressed,
18 there have been periods where she has lost yet
19 another one of her abilities: first, her ability
20 to use her hands; then her ability to walk; then
21 her ability to stand; and finally in the last year,
22 her ability to speak and to breathe on her own. In

1 between these periods of loss of ability, there
2 have been plateaus, and those have been priceless
3 for our family.

4 Over the last five years, my youngest has
5 grown from the age of 4 to 9, my daughter has grown
6 from the age of 6 to 11, and my eldest son from the
7 age of 8 to 13. For three of those years,
8 Christina could walk, and she was able to join the
9 kids and myself for a trip to the Yellowstone and
10 Smoky Mountains. For four of those years, she
11 could speak and use her own voice to tell my
12 6-year-old son how much she loved him every day.
13 Every additional day that Christina has, every
14 additional lengthening of the plateau, is
15 priceless. It's an additional day for her kids to
16 see her smile and for them to sit next to her and
17 watch TV with her.

18 The cure is a perfect dream, but even
19 without a cure, any delay in disease progression
20 has a tremendous impact on families like ours, and
21 it's priceless. I urge the FDA consider the
22 effectiveness of AMX0035 as meaningful and

1 priceless to patients' lives, and to consider this
2 urgently. Thank you.

3 DR. MONTINE: Thank you.

4 Speaker number 10, your audio is now
5 connected. Would you please state your name and
6 any organization you represent for the record?

7 MR. CANTER: Thank you for letting me speak.
8 My name is Greg Canter. I am 42 years old, and I
9 do have ALS. I have no financial obligation or a
10 gain from speaking at this meeting. I'm speaking
11 on behalf of all those who have ALS and those who
12 have no longer a voice.

13 My story started October 2018 when I was
14 first diagnosed. In the midst of desperation, I
15 quickly looked for a clinical trial to get into. I
16 had no other medical option. The only other option
17 I had available was a standard regimen of riluzole,
18 magnesium, and other supplements.

19 January 2019 was my life-saving move when I
20 got into the CENTAUR AMX0035 clinical trial. I
21 barely qualified. Without getting into this trial
22 with only riluzole and supplementation, I would

1 already be in the ground.

2 January 2019 is when the trial started,
3 which was a placebo-based trial and went through
4 the end of June. At the end of June, my testing
5 numbers were at 44 percent opposed to 60 percent
6 when I entered the trial, doing a quick decline in
7 my lung function. Without ever being told, I
8 personally believed that I was on the placebo. In
9 July, I was offered the open-label extension of
10 which I was then guaranteed AMX0035.

11 Now, fast-forward, and here I am, 2 and a
12 half years later, and my numbers are still
13 regulated around the 40 percent mark. If I would
14 have continued on that initial steep decline,
15 respiratory failure would have come quick. This is
16 the reason I say my data speaks for itself. This
17 is not an opinion because that is why I'm still
18 here alive today. Since I began the trial in
19 January 2019, I have yet had any adverse side
20 effects. To me, this drug is proven itself safe
21 and effective.

22 Let me leave you with this. You can plan a

1 vacation next year. You can plan to be alive next
2 year. I can't. I can't take anything for granted.
3 The two drugs in AMX0035, neither are a new drug.
4 AMX0035 is shown to be safe. I'm evidence of that.
5 I've had no adverse reactions. It's effective.
6 I'm evidence of that as well. In fact, I did a
7 counting test in November 2020 to see how far I
8 could count in one breath. I got to 66. Fast
9 forward 2 and a half years later, 3 weeks ago, I
10 counted to 67 during my appointment with my ALS
11 specialist. This is just a portion of my data.

12 From my experience in both the randomized
13 trial and the open-label extension, it shows that
14 AMX0035 is effective in the treatment for patients
15 with ALS like myself, and I firmly believe, in the
16 year 2022, ALS should be a treatable disease.

17 Thank you.

18 DR. MONTINE: Thank you.

19 Speaker number 11, your audio is now
20 connected. Please state your name and any
21 organization you represent for the record.

22 MS. PAULS BACKMAN: Good afternoon. I am

1 Andrea Pauls Backman, the CEO of the Les Turner ALS
2 Foundation. My only disclosure is that the Les
3 Turner ALS Foundation receives less than 2 percent
4 of all annual revenues from pharmaceutical
5 companies, including Amylyx Pharmaceuticals. We
6 are grateful to this advisory committee for your
7 dedication to reviewing the science and hearing
8 from the ALS community regarding AMX0035.

9 My mother Sally died from ALS in 2010, so I
10 come to you today not only as a patient advocate,
11 but as a grieving daughter. I bring both my
12 professional and my very personal passion to my
13 remarks today.

14 The Les Turner ALS Foundation is the oldest
15 independent ALS group in the country, so for
16 45 years it has been our mission to provide the
17 most comprehensive care and support to people
18 living with ALS and their families so they can
19 confidently navigate the disease, and for 45 years,
20 we have advanced scientific research for the
21 prevention, treatment, and cure of ALS.

22 Each year at the foundation, one-third of

1 the people we directly serve die from ALS. This
2 month I attended the funeral of an 18-year-old boy
3 who we lost within a few short months to a very
4 rapid form of this disease. No parents should have
5 to bury a child this way.

6 The photos you see on the screen represent
7 some of the thousands of people we've had the
8 privilege to serve for our personalized services.
9 ALS can affect anyone, anywhere, at any time.
10 These are the faces of ALS.

11 We only have two drugs approved by the FDA
12 to treat ALS. We desperately need more options.
13 We have no time to waste to approve AMX0035.
14 AMX0035 is the first ALS therapeutic to demonstrate
15 both a statistically significant survival and
16 functional benefit in ALS. There is no safety
17 signal in AMX0035, and the adequacy and clinical
18 meaningfulness of the data was clearly demonstrated
19 in a well-designed, robust, randomized-controlled
20 trial at 25 top clinical trial sites in the U.S.

21 AMX0035 is not perfect, but it is effective
22 in the treatment of people living with ALS.

1 AMX0035 has been shown to slow ALS disease
2 progression by 2 points on the ALSFRS scale and
3 extend life by 6 and a half months. These numbers
4 may not sound like much, but for people who lose
5 their lives within an average of 2 to 5 years,
6 slowing progression extends the precious time
7 families have together. Slowing the disease
8 progression means more graduations, more weddings,
9 and more family holidays. It means cutting up your
10 own food, speaking on your own, and even breathing
11 on your own. It means more time with those you
12 love. We have no time to waste.

13 As these faces of ALS and thousands of
14 others attest, there is an urgent need for access
15 to safe and effective therapies and regulatory
16 flexibility for unmet medical needs in ALS. We
17 urge the FDA advisory committee to recommend full
18 approval of AMX0035. There is no other moral
19 choice. We have no time to waste. Thank you.

20 DR. MONTINE: Thank you. [Inaudible - audio
21 gap].

22 MS. THOMPSON: Hello. My name is Christa

1 Thompson. For the record, I am not being
2 compensated in any way by Amylyx for this
3 testimony, and I have no other conflicts of
4 interest to disclose. My husband Owen was
5 diagnosed with ALS in 2018 at 47 years old. He was
6 in the CENTAUR trial at Mass General Hospital, and
7 then began taking AMX0035 through the company's
8 open-label extension.

9 I am here to testify that this treatment has
10 slowed Owen's progression, and I ask you to fully
11 support recommendation of approval by the FDA. I
12 support approval because AMX0035 is safe, and it
13 works. Owen has been taking it with no side
14 effects for over two years, twice a day, every day.
15 While it has been devastating to watch Owen's
16 inevitable loss of function, we have largely been
17 able to stay one step ahead of the disease
18 progression with the help of this treatment.

19 Why does this matter? Because staying one
20 step ahead is being able to get the power
21 wheelchair before it's needed. Two points in
22 6 months gave Owen more time to finish recording

1 his voice so that our three boys don't have to try
2 to remember what their dad sounds like when he
3 says, "Good night, Bud. I love you." That's what
4 2 points in 6 months means. Our sons can still
5 hear his voice.

6 My family of five future has been shattered
7 by ALS, however, I am thankful that Owen has the
8 rare opportunity to take this drug so he can leave
9 a longer legacy. Last June, our oldest graduated
10 from high school. Not only was Owen able to go and
11 be at the ceremony; he didn't need a BiPap mask
12 because AMX0035 slowed his progression. So the
13 legacy of that day is just a dad's proud smile, a
14 smile not hidden behind a breathing machine. That
15 is forever the memory, the moment, the unobstructed
16 smile.

17 All people with ALS deserve this. That is
18 what slowing progression means to this community.
19 It also means a 50th birthday party at Fenway Park
20 this past August. It means my husband, who I met
21 at summer camp in 1987, can still smile at me when
22 I walk in a room. Seeing and keeping that smile

1 for longer means everything.

2 ALS is a complex and challenging diagnosis
3 and disease, so the path to treatments and cures is
4 also challenging and complex. What is not complex
5 is that AMX0035 is safe and well tolerated. It is
6 not complex that extending life and slowing
7 progression means more ALS families like mine get
8 the chance to have longer legacies and more smiles.
9 It means we get more moments.

10 Please address the unmet need for ALS
11 treatment and recommend AMX0035 for full approval
12 by the FDA. Thank you so much for this opportunity
13 to speak to you today.

14 DR. MONTINE: Thank you.

15 Speaker 13, your audio is now connected. If
16 you would please state your name and any
17 organization you may represent.

18 MS. PETERSEN: Thank you. My name is Gwen
19 Petersen. I am living with ALS. I'm not
20 representing any org. I have consulted for several
21 pharm companies in ALS drug development, including
22 Amylyx. I am not being compensated in any way by

1 Amylyx for this testimony today. My voice, as slow
2 and as frustrating as it is compared to what it
3 used to be, is a gift, especially five years living
4 with ALS. I will continue to use my gift as a
5 voice for the voiceless.

6 I was diagnosed with ALS at 32 years old.
7 It was less than one year after marrying my best
8 friend; no family history, no genetic mutations
9 found. If I can get ALS, anyone can get ALS. I
10 was not a participant in the AMX0035 trial,
11 however, I did participate in a year-long clinical
12 trial with an experimental ALS therapy.

13 I so vividly remember the study consent
14 process and the research nurse who placed strong
15 emphasis on the following words. She said, "You
16 may not receive any direct benefit from taking part
17 in this research study. Others with ALS may
18 benefit in the future from what we learn in this
19 study."

20 I have invested a lot. I have taken risks.
21 I had 7 lumbar punctures with a 50/50 coin flip of
22 getting placebo, and I did it for the next

1 generation of people living with ALS. Advisory
2 committee, please recommend AMX0035 for full
3 approval as therapy that has a good safety profile,
4 met its primary endpoint, and is efficacious for
5 this generation of people living with ALS.

6 My slide up on the screen is what I would
7 miss out on in 6 and a half months. On the left,
8 that's me. Advocacy is a huge part of my life, and
9 we showed you a lot in 6 and a half months. On the
10 right, those are my nieces and nephews. ALS robbed
11 me and my husband of having our own kids. That
12 never is going to be easy to say. On the bright
13 side, though, I get to be the favorite aunt.

14 I ask the committee to go home tonight after
15 this presentation and ruminate on this. What does
16 6 and a half months mean to you? What would you
17 miss in 6 and a half months? Thank you.

18 DR. MONTINE: Thank you.

19 Speaker 14, would you please begin by
20 introducing yourself? State your name and any
21 organization that you may represent.

22 MS. BYRD: I am Katrina Byrd. I am not

1 being compensated in any way by Amylyx for this
2 testimony, and I have no other conflicts of
3 interest to disclose. Thank you, FDA and the
4 advisory committee for this opportunity.

5 In a hospital room in Jackson, Mississippi,
6 Dora, my partner of 23 years, coughed vibrantly in
7 the flat hospital bed. She struggled, unable to
8 use her hands to sit up, unable to press the call
9 button, unable to reach out to me. For months, her
10 breathing was labored as her speech and swallowing
11 deteriorated. Her gasps for air overshadowed the
12 two feeding machines beeping as it dispensed
13 formula into her newly installed PEG tube.

14 The tech, who flattened the bed to
15 administer an EMG test, was confused. I pressed
16 the call button, then lifted Dora to a sitting
17 position. Several nurses entered and watched as I
18 steadied Dora with one hand and gently rubbed her
19 back with the other. She continued coughing unable
20 to clear her lungs.

21 "I can't do nothing," our assigned nurse
22 said when she realized the phlegm was in the lungs

1 and not in the mouth. "She ate too much pudding."
2 "She hasn't eaten anything today," I said, as I
3 continued rubbing Dora's back. "Well, maybe you
4 stepped away," she said. "I've been by her side
5 all day." She pulled up Dora's chart on the
6 computer, and then said, "Oh. I guess she didn't
7 have anything to eat today." Later that day, I
8 learned that it is unsafe to lay flat while tube
9 feeding because the patient may aspirate.

10 Diagnosed with ALS November 18, 2019, Dora
11 passed away 76 days later on February 2, 2020. As
12 her sole caregiver, I watched her die daily, our
13 journey a circle of disparity; no skilled nursing
14 care, no ALS clinic, no equipment. With no money
15 and no legal ties to one another, we pushed
16 forward, nothing between us but prayers, tears, and
17 wishes.

18 For us, 2 points on the ALSFRS scale means
19 morning tea on the front porch; safer trips to the
20 bathroom; picking daffodils from the yard; less
21 drooling; visiting her son's grave; laughing
22 without choking.

1 In the land of the free, how do you
2 recognize ALS caregivers? They are bound by a life
3 of labored breaths, tube feedings, and slow,
4 calculated steps to the bathroom. They are broken
5 by the phrases, "There's nothing I can do. Take
6 her home and keep her comfortable." I am haunted
7 by Dora's last three words spoken with great effort
8 and difficulty, "I love you."

9 Please recommend the approval of AMX0035.
10 Thank you.

11 DR. MONTINE: Thank you.

12 Speaker 15, you're now connected. Would you
13 please introduce yourself by stating your name and
14 any organization that you may represent for the
15 record?

16 MR. MELMEYER: Thank you for the opportunity
17 to speak today. I am Paul Melmeyer, vice president
18 of Public Policy and Advocacy at the Muscular
19 Dystrophy Association, and we serve all individuals
20 with neuromuscular diseases, including ALS, in a
21 variety of ways, including advocating for the
22 accelerated development of more and better

1 therapies for the neuromuscular disease patient
2 population. I have no financial relationships to
3 mention.

4 The Muscular Dystrophy Association does not
5 participate in product-specific advocacy, and thus
6 will not make a specific recommendation on this
7 drug. Instead, I will outline the flexible
8 regulatory approach we expect the FDA and this
9 advisory committee to utilize when considering this
10 and all rare neuromuscular disease therapies. We
11 are grateful the FDA mentioned exercising
12 appropriate regulatory flexibility this morning,
13 and I encourage this committee to remember the
14 following three key points when evaluating this and
15 all other neuromuscular therapies.

16 First, we encourage FDA and the advisory
17 committee to consider all the ways of demonstrating
18 substantial evidence of effectiveness, including
19 through the use of one adequate and well-controlled
20 clinical investigation plus confirmatory evidence.

21 As outlined in its December 2019 guidance,
22 FDA states that the agency, quote, "will consider a

1 number of factors when determining whether reliance
2 on a single adequate and well-controlled clinical
3 investigation plus confirmatory evidence is
4 appropriate, including the seriousness of the
5 disease, particularly where there is an unmet
6 medical need; the size of the patient population;
7 and whether it is ethical and practicable to
8 conduct more than one adequate and well-controlled
9 clinical investigation," end quote.

10 Second, we remind the FDA and the advisory
11 committee of flexibilities outlined in the ALS
12 Developing Drugs for Treatment Guidance, including
13 that the, quote, "FDA will consider patient
14 tolerance for risk in a serious and
15 life-threatening nature of the condition in the
16 context of statutory requirements for safety and
17 efficacy," end quote, and, quote, "FDA has long
18 stressed the appropriateness of exercising
19 regulatory flexibility in applying the statutory
20 standards to drugs for serious diseases with unmet
21 medical needs while preserving appropriate
22 assurance of safety and effectiveness," end quote.

1 Finally, the FDA has a well-established
2 record of approving treatments for serious and
3 life-threatening rare diseases without the standard
4 level of proof of effectiveness required in more
5 common or less serious diseases. Analyses have
6 shown that at least two-thirds of rare disease drug
7 approvals are done so by the agency, flexibly
8 considering whether the effect in this evidence is
9 adequate. These flexibilities have been reiterated
10 by FDASIA, FDARA, and consistently supported by
11 patients, their loved ones, the organizations that
12 serve them, their clinicians, and their elected
13 officials.

14 Developing treatments for rare neuromuscular
15 diseases presents unique challenges that must be
16 addressed with the previous mentioned
17 flexibilities. Today, we are asking the FDA
18 reviewers and this advisory committee to remember
19 these flexible approaches already put forward by
20 the agency when evaluating this and all new
21 potential treatments for ALS and rare neuromuscular
22 diseases. Thank you.

1 DR. MONTINE: Thank you.

2 Speaker 16, your audio is now connected.
3 Would you please begin by introducing yourself,
4 stating your name and any organization that you may
5 represent?

6 DR. BERRY: Thank you for having me today.
7 My name is James Berry. I'm a neurologist, and for
8 over a decade I've been an ALS clinician and
9 clinical researcher at the Healey and AMG Center
10 for ALS at Mass General Hospital. I'm the chief of
11 the Division of ALS and director of the
12 Neurological Clinical Research Institute, and
13 co-chair of the NEALS network nationally.

14 I was a Mass General site investigator for
15 the CENTAUR trial of AMX0035 and for the ongoing
16 PHOENIX trial, and the Mass General Neurological
17 Clinical Research Institute acted as the clinical
18 research organization for the CENTAUR trial. I
19 have no other affiliation with Amylyx, and my
20 comments today are my own.

21 Given all that you've heard in the advisory
22 panel today and the comments during this public

1 session, I'll spend very little time rehashing the
2 remarkable unmet need for people with ALS, but
3 suffice it to say that the need is pressing and,
4 unfortunately, many of the potential therapies that
5 we test in trials do not measure up. But today is
6 an exciting day because today we're talking about a
7 therapy that has measured up in trials.

8 AMX0035 was tested in a rigorous,
9 well-designed, carefully conducted RCT using
10 standard and accepted clinical outcome measures
11 with clear clinical meaning. The slope of decline
12 in the ALSFRS-R was prospectively designated as the
13 primary endpoint in the trial of AMX0035, and
14 survival was also analyzed.

15 To be sure, the primary endpoint was not the
16 combined assessment of function and survival or
17 task joint rank. As the primary author of a paper
18 illustrating the application of task joint rank in
19 ALS clinical trials, I know its potential benefit in
20 a long trial expected to see large numbers of
21 survival events, as well as decline in the
22 ALSFRS-R. But in that manuscript, we also noted

1 that in shorter trials, enrolling people early in
2 the disease, like the CENTAUR trial where the
3 number of survival events is expected to be low,
4 and was, the task analysis is subject to a higher
5 rate of type 2 error than an ALSFRS-R slopes
6 analysis, thus falsely declaring an effective
7 therapy to be ineffective, the worst error we can
8 make for a safe therapy in a fatal disease.

9 In short, the CENTAUR trial of AMX0035 in
10 people with ALS was a high-quality RCT using
11 appropriate endpoints and an appropriate rigorous
12 analysis. It demonstrated efficacy in prespecified
13 analyses, and it showed the safety of the
14 combination drug, both components of which are
15 available on the market.

16 Finally, a large phase 3 trial is already
17 ongoing, so more data will become available soon as
18 you've heard. Why not wait for that trial to
19 complete? Because on balance, there is compelling
20 trial evidence today to approve the drug as we
21 await those results, and because inaction today
22 will delay a decision by years, during which a

1 generation of people with ALS will die while we
2 wait for our confirmatory evidence. The agency
3 allows for a single trial approval, and this would
4 be an appropriate use of that guidance. Thank you.

5 DR. MONTINE: Thank you.

6 Speaker 17, your audio is now connected.
7 Would you please begin by introducing yourself,
8 stating your name and any organization you
9 represent?

10 MR. GREEN: Hi. My name is Phil Green. By
11 way of disclosure, Sandy Morris and I are both
12 members of the Amylyx Patient Advisory Council, and
13 we are not being compensated for this testimony.

14 I'm a 52-year-old father of four wonderful
15 children, one of which turns 20 today, and a
16 husband to the most amazing wife. I like many
17 others participated in the Ice Bucket Challenge,
18 but it is fair to say that at time, I was oblivious
19 to ALS and the devastation it leaves in its way.

20 That all changed on August 29, 2018, when I
21 was diagnosed with ALS. My particular ALS
22 presented with weakness in my hands, as I was

1 unable to muster enough strength to even clip my
2 fingernails. Within 2 months, I found myself
3 struggling to button my shirts, and within
4 5 months, I was completely unable to button them.
5 If I had access to AMX0035 three years ago, maybe I
6 would still be able to take my pills 4 times a day
7 on my own or feed myself. These are simple but
8 significant events in my daily life that I now have
9 to rely on someone else to help me with.

10 We support the approval of AMX0035, based on
11 the phase 2 results. In ALS, we don't have many
12 options that change the trajectory of our disease.
13 Those of us living with ALS deserve to try anything
14 that is safe and that may maintain function and
15 extend time with our loved ones. Those of us
16 living with ALS don't need complicated statistics
17 and a p-value to want to try something that might
18 help. We know that ALS is heterogeneous, and the
19 treatments that work for some may not work for
20 everyone, but we truly have nothing to lose.

21 In the briefing document, FDA acknowledges
22 that the pathophysiology of ALS is not well known

1 and likely involves multiple processes and
2 pathways. I can't help but feel like we are
3 applying high precision statistical analysis to our
4 closer and fuzzy understanding of ALS. People are
5 dying while treatment after treatment has failed to
6 meet clinical endpoints because of our poor
7 understanding of this disease, and yet this
8 therapy, AMX0035, did meet its endpoints.

9 AMX0035 experts and patients alike know that
10 what we need is a toolkit of possible therapies.
11 As an advisory committee, you have the opportunity
12 to bring humanity to the science of ALS drug
13 development and recommend that the FDA exercise its
14 regulatory flexibility and approve AMX0035 for all
15 people diagnosed with ALS. We are human beings
16 after all, not statistics, not numbers.

17 MS. S. MORRIS: I'm Sandy Morris, and I was
18 diagnosed with ALS on January 6, 2018. As you
19 [indiscernible], destructive disease. My daughter,
20 Kylan, will help with me with my words. We are
21 here today to ask you to recommend the approval of
22 AMX0035.

1 MS. K. MORRIS: "We're an ALS family of
2 five. My parents have been in love for 33 years.
3 Mom lost her ability to walk within 7 months after
4 diagnosis. We're doing our best as an ALS family.

5 "AMX0035 has shown in their trial that
6 people living with ALS can live six extra months.
7 I can't even begin to tell you what it would mean
8 to my family to have my mom for six more months.
9 Six more months, and my mama would see my 25th
10 birthday, my baby brother's 21st birthday, my
11 middle brother's 23rd birthday, and we get to
12 celebrate 28 years of marriage with my dad.

13 "Should AMX0035 not get approved now,
14 approximately 20,000 Americans with ALS will die
15 brutal deaths before the phase 3 trial is
16 completed. We will knowingly wipe out an entire
17 ALS generation, ignoring their pleas for the chance
18 at another 6 months. Don't let this happen to our
19 family. What you need to know is this. People
20 living with ALS understand that a therapy might not
21 work for everyone in this heterogeneous disease.
22 What we cannot accept is having zero options in a

1 100 percent universally fatal disease."

2 MS. MORRIS: My apologies for my compromised
3 voice. Maybe if I had been allowed to take
4 AMX0035, you would be able to hear me more clearly.
5 We are human, not lepers. Thank you.

6 DR. MONTINE: Thank you.

7 Speaker number 18, your audio is now
8 connected. Please begin by introducing yourself,
9 stating your name and any organization you
10 represent.

11 MR. REYES: Hello. I am Juan Reyes. I have
12 no organizational or financial disclosures to
13 declare. I reside in Texas with my family and have
14 been living with ALS for over six years. I would
15 like to thank the FDA and the advisory committee
16 for the opportunity to speak today on behalf of my
17 family and the many others who stand to benefit
18 from this treatment.

19 As a retired United States Air Force
20 veteran, husband, and father of four beautiful
21 children, three of which are adopted, I need access
22 to treatment now. Having lived beyond the window

1 of opportunity for any potential clinical trial, I
2 am without options. I am, in fact, at your mercy
3 for any life-extending treatment. Additionally, as
4 a veteran, I and most veterans are without pathways
5 to experimental treatment through the VA itself.
6 This is especially tragic because veterans are at
7 least twice as likely than the civilian population
8 to develop ALS.

9 One veteran who did participate in the
10 Amylyx trials is Jeff Sarnacki, who unfortunately
11 passed in May of 2020. He had just turned 60.
12 Jeff and his wife Juliet approached life
13 voraciously, even with ALS while taking the active
14 drug. Jeff went deep-sea fishing, whitewater
15 rafting in Canada, and took in Red Wings Oggi in
16 college football games. He and Juliet saw the
17 Rolling Stones, Queen, and Bob Seger live in
18 concert, all due to AMX0035.

19 The AMX0035 trial data show a 6-month
20 extension in life and slowed progression by
21 2 points on the ALSFRS-R scale. There are those
22 that would and have conveyed to you, no and not

1 [indiscernible]. To them I say, "Try living with
2 the specter of ALS on your back." Our community,
3 those living with and affected by ALS, have
4 literally communicated our desire for this
5 treatment in the hundreds of comments submitted to
6 you. We understand the risk. We understand the
7 data. We want access now.

8 Six and a half months or 2 points may not
9 mean much to you, but to me it means another
10 birthday, anniversary, or celebration. It means
11 holding my wife's hand. It means being able to
12 walk my daughter down the aisle at her wedding next
13 year. What would these figures mean to you if you
14 were living with an untreatable, incurable disease?
15 Currently, my ALS clock cannot be stopped, but you
16 can help slow it down. Please recommend AMX0035
17 for approval now. Thank you.

18 DR. MONTINE: Thank you.

19 Speaker 19, your audio is now connected.
20 Would you please begin by introducing yourself,
21 stating your name and any organization that you
22 represent?

1 MS. DALLE PAZZE: My name is Laura Dalle
2 Pазze, and I am the CEO of I AM ALS. I have no
3 disclosures. For the past 14 years, I have poured
4 my heart, mind, and soul into service at medical
5 research non-profits. At the core of my work lies
6 a question that could not be more urgent. How do
7 we get safe and effective treatments to people in
8 need as quickly and efficiently as possible?

9 Through my career, I have contended with
10 three horrendous diseases: Parkinson's, Duchenne
11 muscular dystrophy, and now ALS, conditions that
12 rob people of their physical abilities, their
13 dignity, and their lives. I've worked on
14 developing more rigorous preclinical testing
15 standards to weed out poor drug candidates. I have
16 helped develop and validate more sensitive outcome
17 measures to better tease out a drug benefit. I
18 have used data-driven modeling to improve clinical
19 trials so it doesn't take decades and billions to
20 get help.

21 Here today is a chance to fulfill a promise
22 made to people with ALS. We will listen to you and

1 consider your input. We will be flexible and
2 consider data from one controlled study. We will
3 consider the risk-benefit profile of the people who
4 are affected. We will do a better job of using
5 advancements in technology, our understanding of
6 your disease, and regulatory flexibility to get you
7 the help you need. The FDA has said all of this.
8 Here is a chance to put action to those words.

9 Unlike many neurological conditions under
10 this panel's purview, ALS is not a disease of
11 decades; it is measured in moments like an
12 aggressive cancer. A 2017 JAMA oncology review
13 found that of the 62 cancer drugs approved from
14 2003 to 2013, the average survival benefit was
15 3 and a half months. For people facing ALS's
16 median time to death from diagnosis of 2 to
17 3 years, this drug offers an average of 6 and a
18 half more months on this earth.

19 Please conduct your review and analysis in
20 the ALS context. Focus on the functional outcome
21 measures and analysis we do have rather than
22 biomarkers and tools we aim to make possible in

1 years to come. When considering p-values in ALS,
2 remember the heterogeneity of our disease and value
3 this hazard ratio the way the cancer community
4 would.

5 Please also remember that the testimony from
6 people with ALS is critical to contextualize the
7 data presented today. People living with ALS are
8 experts in how their disease affects their lives
9 and what constitutes meaningful effect. Their
10 testimony delivers tangible examples of what
11 2 points on the ALSFRS-R and 6 and a half more
12 months actually mean in real life: another
13 baseball game with the kids; the dignity of being
14 able to feed oneself; time to record a
15 grandmother's voice for her new grandchild.

16 I am sure this panel is concerned about
17 possibly making a type 1 error, supporting a drug
18 that does not work. I ask you to be equally
19 concerned about the danger of a type 2 error, not
20 approving a drug that does work. If we wait for
21 the phase 3 data, three years will pass. That will
22 mean 20,000 presently living with ALS die while

1 waiting, while an additional 20,000 will be newly
2 diagnosed, decline, and some also die without
3 access to this drug.

4 This drug is not a cure, but it does not
5 need to be for its effects to be transformative for
6 people living with ALS, and these effects are. You
7 have the opportunity here to help take a step
8 toward making ALS a chronic manageable disease.
9 Please take it.

10 DR. MONTINE: Thank you.

11 Speaker 20, you're now connected to the
12 audio. If you would, please begin by introducing
13 yourself, stating your name and any organization
14 you represent.

15 MR. FALIVENA: Good afternoon. My name is
16 Larry Falivena, and I have no financial conflict of
17 interest.

18 Imagine one day sitting in a doctor's office
19 and hearing the words, "You have ALS," then being
20 told you have 2 to 5 years to live with no way to
21 stop the disease from taking away your ability to
22 move, talk, eat, and eventually breathe. Imagine

1 leaving the doctor's office, wondering what would
2 happen to your family, unsure of how much time you
3 have left with them. I don't need to imagine this
4 because I've been diagnosed with ALS and lived
5 these moments.

6 There are currently very limited options
7 available for ALS patients. Half of us diagnosed
8 with ALS die within three years, and most die
9 within five years, a much shorter time frame than
10 the typical clinical trial. Because this disease
11 is fatal, and because of the devastating nature of
12 its effects, when it comes to making a treatment
13 for this disease available to the patient
14 population, the need for urgency and expediency
15 outweighs any need for being overly cautious.

16 You may consider this kit therapy to only
17 have modest effects, but 6 months of additional
18 lifespan is significant to someone who's told they
19 only have a couple years to live. And a couple
20 points on my ALSFRS score could be the difference
21 between feeding myself and still walking, or not.
22 Having an effective drug that would slow my loss of

1 functionality would also reduce my medical costs
2 and reduce the burden on my family.

3 So what would an effective therapy look like
4 in my life? Well, as you can see in my picture, I
5 have two teenage boys. The approval of this drug
6 means more time to be part of their lives and more
7 time with my wife of 20 years. Even though this
8 treatment may not be the cure we're all hoping for,
9 it will get us a step closer to living with ALS,
10 not just dying from it.

11 The CENTAUR trial data showed that AMX003535
12 was not only safe but provided statistically
13 significant slowing of disease progression and
14 extended the lives of the patients. Don't let
15 perfect get in the way of good. You must consider
16 that this treatment is the opportunity to give ALS
17 patients the one thing we desperately need: more
18 time, more time with our loved ones, more time to
19 experience and enjoy the life that's being taken
20 away from us, and more time to discover a cure.
21 And time is something we can't waste waiting for
22 this treatment to go through a long process for

1 approval.

2 Making AMX0035 available to patients means
3 that the next person that hears the words, "You
4 have ALS," can also hear the words, "and here's a
5 treatment that can help." They can leave the
6 doctor's office with hope. If you deny approval,
7 the fate of the ALS patients will not change. The
8 disease will progress and many will die. But if
9 you do approve it, it might help. I believe it's
10 worth a chance. I ask that you recommend approval
11 of AMX0035 now, and give the ALS patients hope.

12 Thank you.

13 DR. MONTINE: Thank you.

14 Speaker number 21 no longer requests time to
15 speak, so if we could please move to speaker 22.
16 Your audio is now on. Would you please introduce
17 yourself, stating your name and any organization
18 you represent?

19 MR. RUSSO: Good afternoon. My name is John
20 Russo. I have no conflicts of interest to
21 disclose. I am here with my wife Loretta, who will
22 help me read my comments.

1 I am a nine-year survivor of ALS. I have
2 never been eligible to join a clinical drug trial
3 due to the fact that I was diagnosed 32 months
4 after my first symptoms. I have dedicated what is
5 left of my life, advocating for others, past and
6 those to come, that have not or may not get the
7 opportunity to live as long as I have with this
8 grueling disease. Yes, I still choose this life,
9 as small and difficult as it may be, and I know
10 that the majority of the ALS community would you do
11 the same.

12 MS. RUSSO: "I have also engaged with the
13 scientific community, including my service as a
14 consumer reviewer for the CDMRP ALS research
15 program for the past six years, as well as many
16 interactions with drug sponsors and scientists. My
17 journey has led me to many conclusions, the most
18 profound of which is the undeniable spirit and
19 determination of those affected by ALS to live.
20 When we are given this death sentence, there isn't
21 any form of appeal. We are left to deal with our
22 dismal fate, knowing that we will die because of

1 our inability to breathe.

2 "We are here today to comment on AMX0035, a
3 drug that has shown meaningful efficacy is
4 generally safe, well tolerated, and is easily taken
5 by mouth or feeding tube. Its chemical components
6 are well known by the FDA. We are well aware of
7 the history of drug study failure recorded for this
8 disease. We also recognize the heterogeneous
9 nature of ALS.

10 "AMX0035 is no panacea. It is, however, a
11 much needed building block along the scientific
12 journey study leading to a cure. Based upon the
13 phase 2 trial results, immediate approval of
14 AMX0035 will extend the lives of those living with
15 ALS today and change the course of ALS drug trial
16 history positively, as well as provide an
17 opportunity to further analyze its effect on
18 subtypes of ALS."

19 MR. RUSSO: Loads of us here today,
20 representing the 30,000 ALS patients living in the
21 U.S., are united with respect to AMX0035 approval.
22 Let's please work together to change the course of

1 ALS longevity starting today. Incremental change
2 is meaningful to us. More time is precious for
3 both of those living with ALS and their family.
4 Thank you.

5 DR. MONTINE: Thank you.

6 Speaker 23, your audio is now activated.
7 Please begin by introducing yourself, stating your
8 name and any organization you represent.

9 MR. KAUFFMAN: Greetings from Palo Alto,
10 California. My name is Scott Kauffman. I'm the
11 chair of the ALS Association, and I have no
12 conflict of interest to disclose.

13 My son Stephen was diagnosed with ALS
14 10 years ago when he was just 27 years old, and as
15 a parent I can assure you that it's the worst
16 possible diagnosis you can hear about your child.
17 Imagine me sitting in front of a computer and
18 hoping that my son had cancer, or MS, or literally
19 anything other than ALS.

20 Today, his ALS has progressed -- more about
21 that world in a minute -- to an advanced stage, but
22 he's been able to live a very meaningful life since

1 his diagnosis. He married his true love three
2 years in and made me a grandfather three years ago.
3 And just this past summer, he was honored by the
4 Basketball Hall of Fame as an NBA superfan.

5 Today, the ALS Association is the largest
6 philanthropic funder of ALS research in the world
7 and the only organization that provides a wide
8 range of care services in all 50 states to people
9 living with ALS and their families.

10 On behalf of Stephen and the entire ALS
11 community, I urge the advisory committee to
12 recommend full approval of AMX0035 immediately.
13 Our organization makes this recommendation based on
14 three important considerations.

15 First, results from the phase 2 trial
16 clearly show that AMX0035 is safe and effective for
17 people living with ALS. Second, after 24 weeks of
18 treatment, AMX0035 significantly slowed ALS
19 functional progression according to the rating
20 scale used by physicians and researchers. And
21 third, long-term survival data reveals that
22 participants in the open-label extension who

1 received AMX0035 in the clinical trial lived an
2 average of 6.5 months longer than most who received
3 the placebo.

4 Now, 6 and a half months might not seem like
5 a lot of time to some of you, but as you're hearing
6 from the remarkable people of ALS presenting today
7 and those who have submitted written comments,
8 every day is another opportunity to make meaning
9 out of their lives, and most importantly to give
10 and receive love.

11 I'd like to revisit the word "progression"
12 because we often talk about how far someone with
13 ALS has progressed because of negative connotation,
14 but what I'd like to do is shift our community's
15 focus to progress to how far we're advancing the
16 science of new treatments towards killing this
17 terrible disease. And I believe AMX0035 represents
18 the next step in developing life-extending
19 treatment, and even if it just adds six more months
20 of life, it is that 6 months that might be combined
21 with other treatments in the pipeline and new ways
22 of caring for people with ALS, all adding up to a

1 meaningful impact. Most poignantly, those 6 months
2 could be what allows a son to become a father and a
3 father to become a grandfather.

4 Please, I ask you to approve AMX0035
5 immediately. People with ALS can't wait for the
6 completion of a phase 3 trial that could add
7 several more years to the process. The amazing
8 people on this call and all those who make up this
9 community deserve to know that you're committed to
10 making progress towards treating and curing this
11 insidious disease. Please, take action today.

12 Thank you.

13 DR. MONTINE: Thank you.

14 Speaker 24, your audio is active. Please
15 introduce yourself, stating your name and any
16 organization you represent.

17 MR. WALLACH: My name is Brian Wallach.

18 MS. ABREVAYA: "My name is Brian Wallach. I
19 am a 41-year-old father of two beautiful
20 intelligent 4- and 6-year-old daughters. Five
21 years ago when I was just 36, and on the same day
22 we brought our second daughter home from the

1 hospital, I was diagnosed with ALS. Since then, I
2 have gone on to co-found I AM ALS and
3 [indiscernible] After Care with my wife, who is
4 speaking for me.

5 "Every patient you have heard from today,
6 and nearly every respected doctor who actually
7 treats ALS patients, recommends approval. Think
8 about that for a second. Think about that. This
9 community is crying out for help, not in 5 years,
10 but now.

11 "I want you to imagine a world in which ALS
12 patients live 20 years on average post-diagnosis
13 instead of 2 to 5. Now stop imagining and remember
14 that we have created that world for once rapidly
15 fatal diseases like HIV, cancer, cystic fibrosis,
16 and multiple sclerosis. We built those worlds not
17 with silver-bullet drugs, but with drugs that are
18 just like AMX0035, which slowed progression, and
19 then slowed it even further when combined with
20 other treatments.

21 "As a prior speaker mentioned, a 2017 JAMA
22 oncology review found that of 62 cancer drugs

1 approved from 2003 to 2013, the average survival
2 benefit was just 3 and a half months. That is how
3 we transform a disease from fatal to chronic. We
4 extend survival, drug by drug, bit by bit. Similar
5 drugs are being approved for cancer. Why not for
6 ALS?

7 "In preparation for today, I read every
8 single word of the Amylyx and Aduhelm submissions.
9 FDA's Aduhelm's submission has a humanity and
10 urgency that is utterly, utterly lacking here.
11 These are FDA's words then.

12 "Quote, 'FDA considers it critical to
13 intervene in Alzheimer's disease and
14 neurodegenerative diseases, in general, as early as
15 possible given the complexity and possible
16 irreversibility of pathophysiological deterioration
17 responsible for clinical findings,' end quote.

18 "In contrast, when we have a small biotech
19 here, with a small patient population here, whose
20 trial actually hit primary endpoint, FDA chooses
21 instead to focus on whether death equals survival
22 and on knocking down the open-label extension,

1 which in fact they told the sponsors to undertake?

2 Why do we not have urgency and humanity here?

3 "In the 2019 ALS guidance, FDA boldly
4 declared, quote, 'When making regulatory decisions
5 about drugs to treat ALS, FDA will consider patient
6 tolerance for risk and the serious and
7 life-threatening nature of the condition in the
8 context of statutory requirements for safety and
9 efficacy.' In contrast to this bold statement,
10 FDA's actions and delays here have already meant
11 that over 12,000 Americans have died without being
12 able to try this safe drug.

13 "It is time to put an end to these broken
14 promises. Applying the FDA's own guidance, this
15 committee should recommend approval of AMX0035. In
16 this 100-page back and forth between Amylyx and
17 FDA, which I read very closely, there are
18 agreements on two key things. First, that AMX is
19 safe, and second, there is agreement that it slows
20 progression. The only disagreement is in how much
21 it slows progression.

22 "If you ask 10,000 ALS patients if they

1 would take a drug that is safe and may slow their
2 progression by up to 25 percent and extend their
3 life by as much as 6 and a half months, I guarantee
4 you 10,000 out of 10,000 will say yes, and they'll
5 deal with any minor potential side effects like
6 diarrhea.

7 "Look, let me be super clear. A no vote by
8 you could result in another 18,000 humans dying
9 without access, and it will set back discovery in
10 this rapid 100 percent fatal disease by years. Let
11 me also be clear about this. Those who have spoken
12 today against approval do not -- do not -- speak
13 for this community, and they are not the sector's
14 respected experts.

15 "Let me say this once again. Every patient
16 you heard from and nearly every respected doctor
17 who actually treats ALS patients recommends
18 approval. This community is crying out for help,
19 not in five years, but now. Please listen to the
20 patients. Please listen to today's scientific and
21 clinical ALS experts. Please, please, make the
22 right choice."

1 DR. MONTINE: Thank you.

2 Speaker 25, your audio is now connected.
3 You can begin by introducing yourself, stating your
4 name and any organization you represent.

5 DR. SHAMASKIN: I'm Dr. Joel Shamaskin, and
6 I represent no organization. I'm speaking from two
7 perspectives, one as a retired primary care doctor
8 and professor emeritus of medicine, and the other
9 as a prison living with ALS. These experiences
10 frame my thinking about the importance of AMX0035's
11 approval.

12 Most primary care doctors recall all their
13 patients with rare diseases like ALS, and I'm no
14 exception. While each patient's course had its
15 unique qualities, a common thread was woven through
16 each. The decline they faced was painful to
17 witness, and that every visit the message to them
18 was the same. I had no good treatment to offer.

19 This experience informed how I reflect on my
20 life with ALS and why I believe the new drug offers
21 so much. Preservation of function means everything
22 to us, and retention of two FRS points over

1 24 weeks is very significant. A 2-point loss over
2 any time frame produces a major impact on social
3 interaction. Patients like me who function at a 3
4 in most domains, by dropping a single point might
5 move from being independent at meals to relying on
6 someone to cut their food.

7 Loss of one speech domain point can make a
8 person reluctant to participate or keep up with a
9 fast conversation. These are the kinds of changes
10 from which it's easy to see a patient heading down
11 the road to isolation, loss of self-worth, and
12 depression. Retaining two FRS points over 24 weeks
13 may seem like a modest benefit, but in reality for
14 people like us, it is very significant.

15 Thirty years ago, I cared for my first
16 patient with AIDS and my first with ALS at the same
17 time. They were alike in that they each survived
18 under three years. However, over the subsequent
19 three decades, the experiences of people with these
20 two diseases cannot have been more dissimilar.
21 During those years, we've thankfully gone from
22 having one drug for HIV to 24, and we all know

1 where we are for ALS.

2 In conclusion, adding AMX0035 can be the
3 first of many add-on drugs to get incremental
4 extension on life span and add to that quality of
5 life. A modest additional effect can make ALS more
6 livable, and a very low risk-benefit ratio is a
7 main reason to approve it now. Thank you.

8 DR. MONTINE: Thank you.

9 Speaker 26, your audio is now activated.
10 Please introduce yourself, stating your name and
11 any organization you represent.

12 DR. WOODS: Hi. I'm William G. Woods, MD.
13 I represent myself, and I have no conflicts of
14 interest.

15 I am an academic physician, specifically a
16 pediatric oncologist, and a clinical researcher
17 with extensive experience at the national and
18 international level. I've served on NCI advisory
19 committees which review and approve clinical trials
20 such as the one being discussed today. I have ALS.

21 We have taken the cure rate of childhood
22 cancer, in my 45 years of professional life, from

1 35 percent to 80 percent cure, and we mean cure.
2 How? We did it with single randomized clinical
3 trials not blinded, almost never two trials. The
4 vast majority of drugs that we have used, including
5 today, are not even FDA approved for use in kids.
6 We cannot afford in kids to wait for confirmatory
7 trials when the design is well done such as the
8 AMX0035 trial.

9 Now, it is known that the Office of
10 Neurosciences is, in fact, a very cautious
11 institution. I know this because of friends that I
12 have within the FDA. This was true for the Office
13 of Cancer as well until Richard Pazdur took over as
14 director, probably 10 years ago. He transformed
15 that branch, and it was a good thing because about
16 the same time, a ton of molecular inhibitors were
17 coming down the pipe that required rapid testing
18 and approval when necessary so that we could try
19 more.

20 The use of secondary endpoints in small
21 studies has been frequently used for new agents.
22 We use the process of accelerated approval, which

1 I've not even heard talked about today among the
2 neurosciences folks. But what this does is it
3 allows the cancer side of things to approve drugs,
4 and then require the drug company to follow
5 patients subsequently treated very carefully, with
6 subsequent review by the Center of Excellence in
7 Oncology. If a drug does not show a particular
8 indication in a particular cancer, it is stopped,
9 and the license is withdrawn.

10 I do not understand why, in fact, you can't
11 do the same thing in the Office of Neurosciences.
12 It makes no sense to me, unless I'm missing
13 something. We weigh risk and benefit. In this
14 case, the risks are minimal and the benefits are
15 huge. We could save -- as a lady, number 24,
16 said -- something like 15 [000] to 20,000 lives in
17 the three years it would take to finish the larger
18 trial.

19 DR. MONTINE: Excuse me. I'm sorry to
20 interrupt you, but you're considerably over your
21 time, so if you would please wrap up your comments.

22 DR. WOODS: I will. The timer says that I

1 have 15 seconds left, but I will wind it up.

2 This study isn't imperfect -- most clinical
3 trials aren't -- but I'm asking you to do the right
4 thing for people with ALS.

5 DR. MONTINE: Thank you very much, and I
6 apologize again for interrupting you.

7 I'd like to thank all of our speakers in the
8 open session, especially the patients and their
9 loved ones. It's extremely valuable input for the
10 council and the committee.

11 We're now going to take a five-minute break.

12 MR. HENSON: Am I on? I'm sorry. My
13 microphone came on. Sorry.

14 DR. MONTINE: No problem. So we're going to
15 take a five-minute break, so we'll reconvene at
16 let's say -- well, let's make it 7 minutes. We'll
17 reconvene at 50 minutes past the hour, where we'll
18 take up additional panel discussion and questions.

19 Okay. Thank you, everyone. We're at break.

20 (Pause.)

21 DR. SEO: Dr. Montine, I apologize. We do
22 have one speaker, speaker 27.

1 DR. MONTINE: Oh.

2 DR. SEO: We'll be going to break after
3 speaker 27.

4 DR. MONTINE: My apologies. I'm sorry,
5 speaker number 27. I thought we were ending at 26.
6 My apologies. Please proceed, introducing yourself
7 and stating who you represent.

8 MR. HENSON: I very much appreciate it, and
9 I will probably need substantially less than three
10 minutes. My name is Mike Henson, and I represent a
11 group called No More Excuses, and I have no
12 financial disclosures, and I own no Amylyx stock.

13 Our 13,000 members would like to chime in
14 briefly and say, please approve this drug. No drug
15 is perfect, but I'd like to tell you just a brief
16 story. In June of 2019, we were fortunate enough
17 to sit across the table from Dr. Janet Woodcock and
18 Dr. Peter Marks. At that meeting, I spoke for our
19 group and told Dr. Woodcock that ALS could be, and
20 should be, a treatable chronic disease.

21 That was almost three years ago now, here,
22 and in a couple of months it will be three years.

1 Unfortunately, nothing has changed as far as
2 patients in terms of approved drugs since that
3 date. Imagine being on the Titanic that is
4 sinking, and you get into a lifeboat, and somebody
5 says, "You can't get in that lifeboat," or, "You
6 better get out because it is not government
7 certified yet."

8 That is literally the situation that we face
9 today with ALS. We're not being allowed access to
10 several drugs, in fact, and I think it's a real
11 tragedy because this drug, AMX0035, while not
12 perfect is certainly good enough to get into the
13 lifeboat and to use as the first of many we hope to
14 come.

15 I'll just close by saying that the general
16 defeatism that we see in ALS must end. Last year
17 in October, I AM ALS' president and co-founder,
18 Bryan Wallach, gave an impassioned speech along
19 with his wife at Congress, in the U.S. Congress.
20 That speech, to me, represents exactly what we need
21 to do in ALS today. We must begin to take
22 chances -- and not risks, by the way -- just

1 chances on these types of drugs that meet their
2 primary endpoint but that are not perfect.

3 At that meeting, the FDA promised to use
4 regulatory flexibility. We haven't seen it yet.
5 This could be the first. On behalf of our 13,000
6 members, I implore you to please approve this drug.
7 Thank you.

8 DR. MONTINE: Thank you, and my apologies
9 again for my confusion with the last speaker.

10 MR. HENSON: No worries. Thank you.

11 DR. MONTINE: Thank you to all our speakers.

12 As I said, we'll now break until 55 minutes
13 past the hour, and then we'll reconvene with
14 further panel discussion and questions. This
15 closes the open hearing portion of the meeting, and
16 we will no longer take comments or questions from
17 the audience. Thank you. We'll reconvene at 3:55.

18 (Whereupon, at 3:47 p.m., a recess was
19 taken.)

20 **Clarifying Questions (continued)**

21 DR. MONTINE: Welcome back, everyone. We'll
22 now move to further panel discussion and questions.

1 I will orient people to time. We're about
2 20 minutes behind schedule. I apologize for that,
3 but we felt it was important, especially for
4 patients and their families, to have the
5 opportunity to speak.

6 I have five individuals, groups, that have
7 already asked to speak, so I'll go in order for
8 those five, the group from Amylyx, then
9 Drs. Traynor, Gould, Fischbeck, and Robert
10 Alexander. And then after we go through these
11 five, anyone else who wishes to speak will follow
12 the same format as before of raising your hand, and
13 I'll acknowledge you.

14 So I'll hand it over now. I'll please ask
15 everyone to keep their comments focused and crisp.
16 I'll hand it over to the team from Amylyx.

17 DR. TIMMONS: Thank you. This is Dr. Jamie
18 Timmons from Amylyx. First, I appreciate the FDA
19 providing the opportunity for us to correct a
20 statement made earlier about the statistical
21 analysis plans in CENTAUR.

22 To clarify, both the randomized-controlled

1 phase and open-label phase statistical analysis
2 plans were finalized and submitted prior to
3 randomized phase unblinding. They were not revised
4 after unblinding.

5 Given that the mITT population was
6 prespecified for the survival analyses but that ITT
7 was the analysis that we also wanted to do, we
8 submitted a supplemental statistical analysis plan
9 in April 2020 that detailed the method for that ITT
10 survival analysis. It does not supplant the
11 original statistical analysis plan, which, again,
12 was not changed after submission.

13 Next, I'd like to address two, after the
14 break, questions that came up. The first,
15 Dr. Hendrix will address the question from
16 Dr. Follmann regarding the comparison of the
17 randomized-controlled phase and open-label phase
18 ALSFRS-R slopes.

19 DR. HENDRIX: Suzanne Hendrix. What you can
20 see here is the slide that had the question with
21 the confidence intervals added, and just to orient
22 you, these are confidence intervals around each

1 point estimate.

2 When we look at the 1.24 at the top and look
3 at that confidence interval around it, you want to
4 look at the number underneath and see if it's
5 included in that confidence interval to see if
6 they're different from each other. What you can
7 see is that in the first column, the 1.24 is
8 different than the number below it, 1.66, and on
9 the right-hand side you can see that the 1.26 is
10 similar to and the confidence interval includes the
11 1.37, suggesting that the patient to have newly
12 gone on to treatment from the placebo arm are
13 getting a more similar slope to the participants
14 who have been on active treatment from the first
15 phase into the second phase as well.

16 Now, just to put that in context, I need to
17 also show the participants who did not go into the
18 open-label phase, and on this slide you can see
19 those participants.

20 On the bottom here, we have the participants
21 who are not going to be on the label. There are 33
22 in the original randomized active arm, 14 in the

1 original randomized placebo arm, close to the
2 2 to 1 randomization, suggesting that the
3 participants that we're looking at are similarly
4 balanced between the groups, the ones who
5 participated, and then also the ones who didn't.

6 On the right-hand side here, you can also
7 see the survival numbers, where the difference
8 between 15.6 and 7.5 is about 8 months. An
9 additional 8 months in the open-label phase
10 resulted in an additional 8 months of survival, as
11 seen in the right-hand column, the difference
12 between 29 and 20.8. And on the bottom, an
13 2.7 months of exposure results in, again, about a
14 2-point additional survival for those who did not
15 go into the open-label phase but had some early AMX
16 treatment. Thank you.

17 DR. TIMMONS: This is Dr. Timmons again.
18 Finally, to finish the question on neurofilament
19 raised during our Q&A, prior to our break that we
20 were not able to complete, here is Dr. Shefner.

21 DR. SHEFNER: Hi. Jeremy Shefner. I just
22 want to make the point that neither neurofilament

1 light or heavy chains, known to be a treatment
2 sensitive biomarker in ALS, there's been no ALS
3 clinical trial in which there's been efficacy
4 signal and a reduction in neurofilament.

5 There's one recent late-phase study in which
6 neurofilament was reduced quite dramatically and
7 statistically significantly. That study was not
8 associated with the significant clinical signal
9 with respect to efficacy. That's the body of
10 knowledge about the treatment responsiveness for
11 neurofilament.

12 DR. TIMMONS: Thank you. That concludes the
13 Amylyx portion.

14 DR. MONTINE: Thank you. Thank you, all.

15 Next is Dr. Traynor.

16 DR. TRAYNOR: Hi. This is Bryan Traynor
17 here. I have a question for Amylyx. I'd like to
18 draw your attention to the rate of decline of the
19 ALSFRS for the 48 patients in the placebo group.
20 It's stated to be 1.66 per month, and I would like
21 to ask your opinion as to whether this is what will
22 be expected or whether it will be higher than

1 expected in a similar group of 48 patients that
2 will be taken from an ALS population.

3 DR. TIMMONS: Thank you. This is
4 Dr. Timmons. Based on the clinical modeling done
5 with the specific inclusion criteria used in
6 CENTAUR, we did anticipate this rate of progression
7 for the placebo group. I'll have Dr. Paganoni
8 comment further.

9 DR. PAGANONI: Hi. This is Sabrina
10 Paganoni. Yes, that's exactly right. We spent
11 quite a bit of time when we were designing the
12 trial. With several colleagues, and Dr. Schoenfeld
13 in particular, we analyzed prior clinical trial
14 databases to select inclusion/exclusion criteria
15 that would allow us to enroll a relatively
16 homogeneous population that was predicted to
17 progress at that rate, and that's exactly what we
18 saw in the placebo arm.

19 DR. TRAYNOR: May I ask a follow-up
20 question?

21 DR. MONTINE: Please.

22 DR. TRAYNOR: Dr. Paganoni, your eligibility

1 criteria would likely push the rate of
2 decline -- because you're selecting patients who
3 are earlier in the course of the disease and have a
4 [indiscernible] capacity that's greater than a
5 certain percentage, would you expect that rate of
6 decline to be a little bit lower than what was
7 observed in this study? I'm just puzzled because
8 you say you've compared it to previous clinical
9 trials, but 1.66 really does seem to be quite high.

10 DR. PAGANONI: That's a great point. The
11 reason we were able to enroll a fast progressing
12 population is because there was another key
13 inclusion criteria, and that's the diagnosis of
14 definite ALS by ALSFRS-Revised. So the disease was
15 already diffused to 3 out of 4 body regions.

16 DR. TRAYNOR: Okay. Thank you.

17 DR. MONTINE: Thank you.

18 Dr. Gould, please?

19 DR. GOULD: Can you hear me ok?

20 DR. MONTINE: Yes, I can.

21 DR. GOULD: That's fine.

22 My question is to both parties. I'm trying

1 to understand a little bit more how both parties
2 viewed the issue with edaravone use in the clinical
3 trial. It seems at baseline there was a pretty
4 substantial imbalance, and then during the comments
5 of the trial, it appears there's a disproportionate
6 amount or disproportionate number of subjects on
7 the active that were then started on edaravone.

8 Maybe in the sense of addressing how serious
9 that confounder is, the other question, these two
10 molecules, or at least edaravone, is there a
11 possibility -- is there a reason one would posit a
12 pharmacodynamic interaction between AMX0035 and
13 edaravone given its antioxidant or pre-radical
14 scavenging and mitochondrial protecting qualities
15 as well?

16 I'd like to hear that one, and then, would
17 it be also possible to get a sense from either
18 party, an effect size that is in the Cohen D or
19 standardized mean difference? It's difficult to
20 conceptualize this across other therapeutic areas
21 to understand whether this is -- we know that
22 25 percent in the scale is already based on at

1 least one paper on the borderline of quite low,
2 quite modest, but it'd be helpful to contextualize
3 that treatment effect across other therapeutic
4 areas when we have the standardized mean
5 difference.

6 I'll stop with those two. Thank you.

7 DR. TIMMONS: Hello. This is Dr. Timmons.
8 The Amylyx team is happy to address first since you
9 asked for both parties.

10 In terms of the edaravone question, there
11 was a baseline imbalance in the use of edaravone in
12 the study with more placebo participants at
13 baseline taking edaravone compared to the AMX0035
14 group, as seen in the darker blue portion of this
15 graph. There were a small number of in-study
16 initiations of edaravone. As seen, there were
17 slightly more in the AMX0035 group compared to the
18 placebo, 13 percent versus 4 percent.

19 We did prespecify sensitivity analyses to
20 account for this difference, and I'll bring those
21 up here. What I'll show here is the time-dependent
22 sensitivity analysis, where we're adjusting for

1 time on edaravone and also riluzole during the
2 randomized-controlled phase. When we perform this
3 analysis, we see results very consistent with the
4 primary outcome, indicating that the functional
5 benefit is maintained even when we correct for that
6 in-study use of edaravone and riluzole.

7 Our next question in terms of the potential
8 interaction between AMX0035 and edaravone, the
9 study was not designed to evaluate the efficacy of
10 edaravone and riluzole. It's really designed to
11 evaluate the impact of AMX0035 on top of standard
12 of care of those two therapies. So we're really
13 not able to comment on their relative efficacy,
14 only that the effect that we see is independent of
15 AMX0035. We do not, however, see any potential
16 drug-drug interaction with edaravone and riluzole,
17 if that was part of the question.

18 I'll ask Dr. Hendrix to answer the last part
19 of the question in terms of the standardized mean
20 difference.

21 DR. HENDRIX: Thank you. Dr. Hendrix again.
22 The question was whether Cohen D might shed some

1 light on the type of effect that we're seeing here.

2 The 25 percent slowing is helpful of course
3 to talk about how it relates to a degenerative
4 disease, slowing it by 25 percent, but the Cohen D
5 that we calculated for the prespecified primary
6 endpoint, the same one that had the 0.034
7 significance, was 0.38. So that's between what
8 people would normally consider a small or a
9 moderate effect size. It's closer to the moderate
10 side. So 0.38 is the Cohen for this study over the
11 first six months.

12 DR. TIMMONS: Thank you for your answer.

13 DR. MONTINE: Thank you.

14 FDA team, would you care to comment?

15 DR. FREILICH: Hi, Dr. Traynor. This is
16 Dr. Emily Freilich again. Thank you for that
17 question. I'll just speak to the edaravone point
18 again.

19 As we mentioned briefly earlier, I think the
20 imbalance is there, and the impact of it is
21 unclear. We noted that more patients on placebo
22 were on concomitant medications at baseline, which,

1 as we indicated, could indicate a difference in
2 their underlying disease and why they were on it at
3 baseline or not, and then more patients on the
4 AMX0035 arm initiated treatment with edaravone
5 during this study.

6 While these are all small numbers, it's a
7 small study, so a change in just a few patients
8 could potentially have confounded the study results
9 in terms of knowing if the slowing down of disease
10 was due to the drug itself or to the combination of
11 both drugs or the edaravone itself.

12 I don't know of any pharmacodynamic effect
13 that would lead to an interaction. I don't know if
14 anyone else on the FDA team wants to speak to that.
15 I'll also let Dr. Massie speak to the question on
16 standardized means.

17 Dr. Massie?

18 DR. MASSIE: This is Tristan Massie. I was
19 wondering if I could speak on the edaravone use
20 issue.

21 DR. MONTINE: Please.

22 DR. FREILICH: Go ahead.

1 DR. MASSIE: I think the difficulty is that
2 it's a post-randomization covariate, so it may
3 create an imbalance. The sponsor acknowledged in
4 their open-label extension analysis plan that any
5 model that corrects for a post-randomization
6 covariate may interfere with the assessment of the
7 treatment effect. The problem is it's a
8 post-randomization event which can create an
9 imbalance, so their model is not conclusive because
10 it depends on strong and unverifiable assumptions.

11 DR. MONTINE: Thank you.

12 Any further comments with respect to
13 Dr. Gould's questions?

14 (No response.)

15 DR. MONTINE: We'll move then.

16 Dr. Fischbeck, you're next.

17 DR. FISCHBECK: Sure. This is
18 Dr. Fischbeck. I have a couple of questions
19 related to the ALSFRS-R, I think mostly for
20 Dr. Shefner, but also for others. One is with
21 regard to the linearity of the ALSFRS-R that's
22 often cited and shown in studies that include a

1 large number of patients that the average decline
2 is down.

3 There's a recent publication of the results
4 of the Answer ALS study that had about a thousand
5 patients. They showed a spaghetti plot of the
6 ALSFRS results that showed patients were all over
7 the place in terms of some going down rapidly, some
8 more slowly, some going down and then up, and
9 others going up and then down. I wonder how that
10 goes together with what's been said about
11 linearity. That's the first question.

12 DR. SHEFNER: Hi. This is Jeremy Shefner.
13 The ALSFRS-R is an ordinal scale of, and there's no
14 absolute reason why it has to decline really early.
15 But it is the experience in clinical trials, on the
16 order of 6 to 12 months, that the ALSFRS is a scale
17 that can cause linear layover, over groups.

18 You can see from --

19 DR. TIMMONS: It may not come up.

20 DR. SHEFNER: Oh, it may not come up.

21 But from all recent trials, the deviation
22 from linearity is not significant. You're right;

1 the ALSFRS data shows some non-linearities, but
2 those data are acquired over the course of
3 approximately 5 years, not the 6-month to 12-month
4 period that that clinical trial was conducted for.

5 DR. FISCHBECK: Yes, that's a good point.
6 It was also collected a different way from the
7 clinical trial here.

8 The other question I had is about the MCID,
9 the minimum clinically important difference of a
10 change in the ALSFRS-R. I wonder where that comes
11 from or what data there is to support it. The
12 published paper said that there isn't a clear
13 signal from the literature.

14 What I was able to find was a paper from
15 NEALS back about 12 years ago that sought expert
16 opinion. There were over 40 respondents, and it
17 looked quite scattered. A 20 percent change was
18 considered to be somewhat meaningful, and to get up
19 to meaningful or very meaningful, you had to get at
20 least 25 percent or more change. That's from the
21 experts, NEALS study investigators.

22 I wonder if there's ever been a good study

1 of how patients feel about change or what the
2 minimum detectable change by the patient population
3 is that's participating, whether that's ever been
4 done in NEALS or elsewhere, or whether it could be
5 incorporated. It seems pretty easy to incorporate
6 in a clinical trial by just asking patients whether
7 they noticed a change, and see how it correlates
8 with the change in the ALSFRS-R.

9 DR. SHEFNER: This is Jeremy Shefner.
10 Thanks for that question, which is an incredibly
11 important one. You've correctly identified the one
12 paper that I know about that really addresses this
13 issue. It's an expert witness testimony from
14 investigators, not patients.

15 I think that at 25 percent or more,
16 virtually all investigators rated that effect as
17 moderately to greatly clinically significant, but
18 that's a limited data set. There is an effort
19 underway now to really rigorously establish a
20 minimally important difference for the ALSFRS, but
21 that's just in its infancy. We don't have data
22 right now.

1 DR. FISCHBECK: Thank you.

2 DR. MONTINE: If I may, Dr. Fischbeck, I'll
3 circle back if you have additional questions. I
4 just want to be sure everyone gets a chance, if
5 that's ok.

6 DR. FISCHBECK: Yes, at first time.

7 DR. MONTINE: Great. Thank you.

8 Dr. Robert Alexander, you're next.

9 DR. R. ALEXANDER: Yes. Hi, Dr. Montine.
10 It's Robert Alexander. I don't have any further
11 questions or comments.

12 DR. MONTINE: Oh. Thank you.

13 Dr. Nath, you're next.

14 (No response.)

15 DR. MONTINE: Dr. Nath, you may be on mute.

16 DR. NATH: Yes. Sorry. It took me a little
17 bit to unmute myself.

18 My question is in regard to the feasibility
19 of a placebo-controlled study. This is directed
20 towards Amylyx. The concern is that during the
21 comment section, we heard that Turso is available
22 over the internet easily, and I understand that

1 sodium phenylbutyrate is also available, although
2 it is quite more expensive. But if these things
3 are easily available to people -- and it doesn't
4 really matter whether in Europe or in the United
5 States -- I wonder how a placebo-controlled study
6 would still be feasible. If somebody could clarify
7 that for me, that would be great.

8 DR. TIMMONS: This is Dr. Timmons. The
9 exclusion criteria for the PHOENIX study
10 specifically do exclude the use of sodium
11 phenylbutyrate or taurursodiol in the study.

12 DR. NATH: No, that I understand. But my
13 concern is that if people can just get it, why
14 would they enroll in a study when you have a chance
15 you could end up on placebo? You can just get it
16 over the internet. That's a problem in any
17 placebo-controlled study. If a drug is easily
18 available and they can just obtain it, then they
19 won't enroll in a placebo-controlled study.

20 DR. TIMMONS: Understood. In terms of
21 Tudca, taurursodiol, one thing to clarify is that
22 the specific pharmaceutical grade of taurursodiol

1 that's used in AMX0035 is not available on the
2 internet or on Amazon, and the Tudca that is
3 available for purchase may not actually be Tudca.
4 It's an unregulated supplement.

5 DR. NATH: What about the phenylbutyrate?

6 DR. TIMMONS: Phenylbutyrate of course would
7 require a prescription and an off-label use. In
8 terms of the way ALS clinical trials are performed,
9 I can ask Dr. Paganoni to comment on how these
10 supplements and off-label uses are controlled in
11 terms of clinical trial enrollment.

12 DR. PAGANONI: Yes. This is Dr. Paganoni.
13 In terms of the phenylbutyrate, Dr. Timmons is
14 correct; that's available by prescription. The
15 cost is exorbitant. So again, I don't think that's
16 readily available for the vast majority of
17 patients, and I assume the same would be true in
18 Europe in terms of Turso, as already discussed.
19 It's an unregulated product.

20 I think as an investigator, we always have
21 that discussion with our patients, and that's what
22 I do. Even when I enroll, right now, patients in

1 other clinical trials, I ask them, "Are you using
2 supplements or products that you purchase online
3 that will be exclusionary during this trial?" And
4 patients do tell us, and they make decisions. I
5 have some patients who tell me I'd rather take the
6 cocktail of supplements I find online and don't
7 enroll in trials, and others that understand that
8 enrollment in trials is also important for the
9 community.

10 So the same applies, I guess, across the
11 entire industry. Patients have to make that
12 choice, if they want to enroll or not in a clinical
13 trial.

14 DR. NATH: Thank you.

15 DR. MONTINE: Thank you both.

16 Mr. Weston, you're next.

17 MR. WESTON: Yes. Thank you.

18 I'm not sure who this question is directed
19 to, one of the Amylyx folks, and I'll let you guys
20 fight it out, if I can articulate my question.

21 It's well-known there are a large number of
22 persons living with ALS who outlived the frequently

1 stated 3 to 5 years of expected survival. This
2 population as a general rule does not qualify for
3 any clinical drug trials, but there may be a few
4 exceptions. The CENTAUR study, everybody knows,
5 included only persons who had symptom onset within
6 18 months.

7 In plain English -- remember, I'm the
8 patient representative, not a statistician -- can
9 you describe the likely impact on ALSFRS scores for
10 those who have had ALS for more than just a few
11 months? I'll just leave it open-ended like that.
12 Thank you.

13 DR. TIMMONS: Thank you. This is Jamie
14 Timmons from Amylyx. Given the proposed mechanism
15 of action, the way that AMX0035 is proposed to
16 work, which is on the pathways that lead to the
17 neuron to die, we would propose that this therapy
18 could be applied to all people with ALS.

19 Of course, it's important to remember that
20 we've only studied specifically in the CENTAUR
21 population, so the PHOENIX clinical trial, we'll
22 provide additional data and additional real-world

1 studies, and we'll also provide additional data
2 there as well, too.

3 I'm going to have Dr. Shefner comment as
4 well, too, from his standpoint as a clinician.

5 DR. SHEFNER: Hi. This is Jeremy Shefner
6 again. I want to comment just based on the
7 edaravone development program and the Amylyx
8 development program, in which inclusion criteria
9 were established to create a population that was
10 relatively homogeneous and progressed at a
11 predicted rate.

12 This isn't a phenotypic distinction, so
13 there's no assumption on the part of the
14 investigators, or I think the general community,
15 that this is a group of ALS patients that is
16 ideologically or pathophysiological distinct from
17 those who are more slowly progressive. So the
18 hypothesis would be that if there's a signal in
19 this group that's chosen to be able to see this
20 effect, we would expect that that same effect would
21 apply to others that don't meet those criteria.
22 It's a theoretical argument, it's not a fact-based

1 argument, but I think it's a reasonable one to
2 make.

3 DR. MONTINE: Thank you.

4 Dr. Follmann, you're up next.

5 DR. FOLLMANN: Yes. Thanks. This is Dean
6 Follmann. I have two questions. One is for
7 Amylyx. It regards to the survival endpoint. I
8 know the FDA mentioned that they thought you were
9 perhaps elevating the importance of the survival
10 endpoint, and I'd just like to hear your response
11 to that.

12 DR. TIMMONS: This is Dr. Timmons from
13 Amylyx. In terms of the prespecified hierarchy for
14 long-term follow-up -- I can pull that up
15 here -- the composite survival endpoint of that
16 includes overall survival, hospitalization, and
17 tracheostomy. Permanent assisted ventilation was
18 secondary in the hierarchy. This is prespecified,
19 and the statistical analysis plan was signed off on
20 before randomized-controlled phase unblinding.

21 This hierarchy never changed. This
22 composite survival endpoint has always been second

1 and was never elevated. Perhaps where the
2 confusion may come in is the specific ITT overall
3 survival supplemental statistical analysis plan,
4 which is really put together just purely to detail
5 those methods for the ITT overall survival
6 analysis. But again, that composite analysis,
7 which includes the individual overall survival
8 outcome, was never elevated and was prespecified.

9 DR. FOLLMANN: Could you go back to that
10 previous slide? You have a hierarchy of endpoints.
11 So was the convention that you first test the first
12 one, the ALSFRS-R, for long-term follow-up, and
13 then if that's significant, go on to the next one?

14 DR. TIMMONS: I'm very sorry. This is
15 Dr. Timmons. I couldn't quite hear what the
16 question was there.

17 DR. FOLLMANN: Well, there's a proposed
18 hierarchy here, so does that mean that you would
19 first test rate of decline, and if significant, go
20 on to the next one?

21 DR. TIMMONS: That is correct. The ALSFRS-R
22 rate of decline in the long-term follow-up did

1 reach statistical significance, then this composite
2 survival endpoint was next.

3 DR. FOLLMANN: Thank you.

4 My other question has to do with the FDA. I
5 guess, Dr. Freilich, I'm just interested in a
6 little more context about this one study. Was the
7 expectation, when you had initial discussions that
8 this might form the basis for approval if it was
9 substantial evidence -- how was this study thought
10 when you were discussing with the sponsor
11 initially?

12 DR. FREILICH: Thank you. This is
13 Dr. Freilich. I think that you mean initially when
14 they first came to us with the study, which was
15 this was a phase 2 study, but we definitely
16 understood that if it appeared to be an adequate
17 and well-controlled study, that it could contribute
18 to the contribution of determination of efficacy.

19 I think once we had seen the top-line
20 results, we initially raised the concern about the
21 ability of the study to stand on its own as a
22 single study, and that's why we started talking to

1 them about the need for a second study. Again, as
2 we've mentioned earlier, we then thought that the
3 survival endpoint did warrant a more critical
4 consideration of the data, and that was why we had
5 them submit for the NDA.

6 DR. MONTINE: Thank you.

7 We're running short on time. I appreciate
8 the terrific discussion that we're having. We have
9 three more committee members that wish to ask a
10 question, so it will be just those three, and then
11 I'm going to have to call time, so please keep your
12 questions and answers as concise as you can.

13 Drs. Caleb Alexander, Fischbeck, and then
14 Gould. Dr. Caleb Alexander, you're your next,
15 please.

16 DR. C. ALEXANDER: Hi. This is Caleb
17 Alexander. I had a question. I'm still trying to
18 get my head around the really favorable open-label,
19 post hoc analyses that examined death alone. I
20 understand the concerns and qualifications about
21 these, but I'm trying to reconcile them a bit with
22 the results of the randomized 24-week trial that

1 didn't show favorable outcomes for the composite.

2 I know that the FDA has commented that there
3 was an absence of correlation between exposure and
4 survival in this open-label analysis, so
5 understanding the other concerns that would exist
6 regarding this analysis as well, I just was
7 wondering if either the sponsor or the FDA could
8 provide any additional information that examines
9 survival as a function of drug exposure.

10 DR. TIMMONS: This is Dr. Simmons from
11 Amylyx. I'm happy to begin.

12 Here we're seeing all participants that were
13 randomized in CENTAUR, and I believe that the
14 analysis that the FDA performed excluded about
15 70 or 75 percent of participants to come to that
16 conclusion. When we look at all participants, we
17 do see that longer exposure to AMX0035 was
18 associated with longer survival in this subgroup
19 analysis.

20 Of course, these are subgroups, so we have
21 to look at this without lens, but looking at the
22 participants that were enrolled in the open-label

1 phase -- AMX0035 group on the top, placebo on the
2 bottom -- we see that those participants that had
3 the longest exposure to AMX0035 did have the
4 longest median survival, and then that carries
5 through down the line to those participants that
6 did not enroll in the open-label phase as well.

7 Dr. Hendrix has one additional thing to add.

8 DR. HENDRIX: Just to remind you, then, on
9 this plot, what we're looking at is the group
10 separated into those who enrolled and did not
11 enroll in the open label. When we do the full
12 analysis with all 136 participants followed to the
13 end and 137 censored at the last time we observed
14 them, we're actually observing a weighted average
15 of the 15.6 months of exposure in the active arm
16 here with the participants who did not enroll and
17 only had 2.7 months exposure, and then the placebo
18 arm is actually those participants with 7.5 points
19 exposure averaged with the participants with no
20 exposure.

21 So the overall survival analysis is
22 essentially a lower bound, what we would have been

1 able to observe if we had a placebo group all the
2 way to the end.

3 DR. C. ALEXANDER: Thank you. And if you
4 can just leave this slide up, if the FDA wanted to
5 comment on either the top two rows of this slide or
6 just the general matter of whether or not there was
7 a correlation between exposure and survival,
8 please.

9 DR. FREILICH: Hi. This is Dr. Freilich. I
10 think, one, we tried to do a correlation between
11 exposure and survival and could not find that they
12 correlated together due to the number of dropouts
13 and discontinuations.

14 I think one of the things to keep in mind
15 with this, what is currently displayed on the
16 screen, is that a lot of the patients did
17 discontinue due to ALS progression, so it does make
18 sense that survival was longer in patients who did
19 stay in the study longer. However, when we looked
20 at individual patients and the duration of time
21 they actually took the drug, we did not see an
22 exposure response curve developed.

1 DR. C. ALEXANDER: Thank you.

2 DR. MONTINE: Thank you both.

3 Dr. Fischbeck, if you could please ask your
4 remaining question.

5 DR. FISCHBECK: We don't need to spend a lot
6 of time on this, but I'm curious. This would be a
7 question I guess for Justin Klee or maybe Joshua
8 Cohen about the rationale behind choosing these
9 particular drugs to put together in AMX0035 when
10 there are other more potent and selective drugs
11 available that hit the targets that you had in
12 mind.

13 I'm speaking particularly about
14 phenylbutyrate when there are much
15 more -- thousands sometimes more -- potent and more
16 selective ACE [indiscernible] inhibitors that could
17 have an effect on ER stress.

18 DR. TIMMONS: Justin Klee will take this
19 one.

20 MR. KLEE: This is Justin Klee. I would say
21 that one of the challenges I think we all face in
22 neurodegeneration is how do we bridge that

1 translational gap? I think the way that we tried
2 to approach this is to look at one of the main
3 reasons we know that neurodegenerative diseases
4 occur -- maybe the fundamental reason -- which is
5 the nervous system degenerates and the neurons die.
6 So we sought to look in preclinical models of a
7 variety of different insults that would cause
8 neuronal death that may then help us translate into
9 a clinical effect, not just a preclinical one.

10 In our experiments, we were looking at the
11 effects of ER stress and mitochondrial dysfunction
12 in a variety of different cell-death models, and
13 what we found is that both of these individual
14 drugs are quite effective, and not just in our
15 hands, but in many other labs they found the same
16 thing.

17 What we found in our studies as well is that
18 the combination was considerably more efficacious
19 than just using either component alone, so it was
20 on that basis that we then decided to go forward
21 and bridge that translational gap to see if this
22 would actually be a clinical effect and not just a

1 preclinical one.

2 DR. FISCHBECK: Thank you.

3 DR. MONTINE: Thank you both.

4 Dr. Gould?

5 DR. GOULD: Yes, a quick question to the
6 Amylyx folks. You have that confirmatory study up
7 and running, and you guys stated that you have the
8 vast majority of subjects in Europe. I'm just
9 trying to understand. In the universe where 0035
10 is approved in the U.S., what is your predicted
11 impact on the power of the ongoing study? Are
12 there ways of accelerating and/or expediting the
13 delivery of the results from the ongoing study?

14 DR. TIMMONS: This is Dr. Simmons from
15 Amylyx. The PHOENIX study is well powered. Even
16 if the planned 200 participants in the United
17 States are not able to complete the study, it is
18 currently powered at 95 percent for the primary
19 endpoint.

20 DR. MONTINE: Thank you.

21 Dr. Apostolova, you're the last one up.

22 DR. APOSTOLOVA: Okay. Can you guys hear

1 me?

2 DR. MONTINE: I can.

3 DR. APOSTOLOVA: Good.

4 Just to clarify, you just presented some
5 additional survival analysis. Did these just
6 include deaths or also included tracheostomies, a
7 permanent ventilator, and hospitalizations, if I'm
8 not mistaken?

9 DR. TIMMONS: This is Dr. Timmons from
10 Amylyx. To clarify, are you asking about the
11 subgroup analysis that was shown?

12 DR. APOSTOLOVA: Just now, yes, the last two
13 slides.

14 DR. TIMMONS: That analysis is deaths only.

15 DR. APOSTOLOVA: Thank you.

16 **Questions to the Committee and Discussion**

17 DR. MONTINE: Thank you all.

18 The committee will now turn its attention to
19 address the task at hand, the careful consideration
20 of the data before the committee, as well as the
21 public comments.

22 We will now proceed with the question to the

1 committee and panel vote. I would like to remind
2 public observers that while this meeting is open
3 for public observation, public attendees may not
4 participate, except at the specific request of the
5 panel. After I read the question, we will pause
6 for any comments or questions concerning its
7 wording, then we will open the question to the
8 panel. Dr. Jessica Seo will provide the
9 instructions for voting.

10 Jessica?

11 DR. SEO: Thank you, Dr. Montine.

12 Question 1 is a voting question. Voting
13 members will use the Adobe Connect platform to
14 submit their votes for this meeting. After the
15 chairperson has read the voting question into the
16 record and all questions and discussion regarding
17 the wording of the vote question are complete, the
18 chairperson will announce that voting will begin.

19 If you are a voting member, you will be
20 moved to a breakout room. A new display will
21 appear where you can submit your vote. It will be
22 no discussion in the breakout room. You should

1 select the radio button that is the round circular
2 button that corresponds to your vote, either yes,
3 no, or abstain. You should not leave the "no vote"
4 choice selected. Please note that you do not need
5 to submit or send your vote. Again, you need only
6 to select the radio button that corresponds to your
7 vote.

8 You will have the opportunity to change your
9 vote until the vote is announced as close. Once
10 all voting members have selected their vote, I will
11 announce that the vote is closed. Next, the vote
12 results will be displayed on the screen. I will
13 read the vote results from the screen into the
14 record. Thereafter, the chairperson will go down
15 the roster, and each voting member will state their
16 name and their vote into the record. You can also
17 state the reason why you voted as you did, if you
18 want, however, you should also address any subparts
19 of the voting question, if any.

20 Are there any questions about the voting
21 process before we begin?

22 (No response.)

1 DR. MONTINE: I will now read the question.

2 Question 1. Do the data from the single
3 randomized-controlled trial and the open-label
4 extension study establish a conclusion that sodium
5 phenylbutyrate/taurursodiol is effective in the
6 treatment of patients with amyotrophic lateral
7 sclerosis or ALS?

8 If you voted no, please discuss what
9 additional information you would consider necessary
10 to establish a conclusion that sodium
11 phenylbutyrate/taurursodiol is effective in the
12 treatment of patients with ALS.

13 Are there any questions or concerns about
14 the wording of this question?

15 (No response.)

16 DR. MONTINE: If there are none, then we
17 will now begin the voting on question 1.

18 DR. SEO: We will now move voting members to
19 the voting breakout room to vote only. There will
20 be no discussion in the voting breakout room.

21 (Voting.)

22 DR. SEO: The voting has closed and is now

1 complete. Once the vote results display, I will
2 read the vote results into the record.

3 (Pause.)

4 DR. SEO: The vote results are displayed,
5 and I will read the vote totals into the record.
6 The chairperson will go down the list and each
7 voting member will state their name and their vote
8 into the record. You can also state the reason why
9 you voted as you did, if you want to, however, you
10 should also address any subparts of the voting
11 question, if any.

12 There were 4 yeses, 6 noes, and zero
13 abstentions.

14 (Pause.)

15 DR. SEO: Dr. Montine, you may be muted.

16 DR. MONTINE: Yes. Thank you. I got
17 double-muted somehow. Thank you, Dr. Seo.

18 We will now go down the list and have
19 everyone who voted state their name and vote into
20 the record. You may also provide justification of
21 your vote if you wish.

22 We'll start with Dr. Nath.

1 DR. NATH: This is Avi Nath. I voted yes.
2 I have to admit this was a very difficult decision
3 for me, and I could have gone either way. But
4 after weighing all the factors and facts presented,
5 I touched over to the yes side.

6 DR. MONTINE: Thank you.

7 Dr. Traynor?

8 (No response.)

9 DR. MONTINE: Dr. Traynor, you may be muted.

10 DR. TRAYNOR: Sorry. I was double-muted
11 indeed.

12 This is Bryan Traynor. This was also a
13 difficult vote and decision. I voted no. I will
14 state my four reasons for doing so. I thought that
15 there was considerable concerns voiced by the FDA
16 about the trial conduct and the interpretation of
17 the results. I thought that the fact that the
18 larger trial is underway that will provide the
19 answers makes this an important point.

20 I felt that the rate of the ALSFRS decline
21 observed in the placebo group seems to be on the
22 high side. That's a personal opinion. And I think

1 that all of these things combined together indicate
2 that, really, the applicant hasn't provided robust
3 evidence required for approval on a single trial as
4 outlined in 505(b).

5 DR. MONTINE: Thank you.

6 Dr. Jones?

7 DR. JONES: Yes. This is Dr. Dawndra Jones.

8 I voted yes. I have to say this was a very
9 difficult decision, but I felt like it was the
10 right thing, and being the consumer rep, I really
11 wanted to make sure that the consumer voice was
12 really heard.

13 DR. MONTINE: Thank you.

14 Dr. Follmann?

15 DR. FOLLMANN: Yes. This is Dean Follmann.

16 I voted yes. I also found this a very difficult
17 decision, and I went back and forth during the day
18 actually, but ultimately I agreed with the
19 sponsor's primary analysis. I think a lot of the
20 issues the FDA raised, I could understand it, but I
21 think the arguments the sponsor made for using the
22 shared baseline linear random effects model

1 resonated more with me.

2 I think this is a situation where we don't
3 have a lot of death endpoints, so I think this is
4 like a more efficient and appropriate way to look
5 at the data. I wasn't concerned about the
6 linearity so much or the imbalance of baseline
7 variables.

8 Just to make two more points, somehow I
9 thought establish a conclusion was not quite the
10 bar as substantial evidence. ALS is a rare
11 disease. These also entered into my mind. I would
12 also say the survival analysis, where you were able
13 to determine vital status on, I think, 136 of 137,
14 to me did support the mixed effects model analyses.
15 So all in all, this is the way I voted. It was
16 difficult, but I did vote yes. Thank you.

17 DR. MONTINE: Thank you.

18 Dr. Caleb Alexander?

19 DR. C. ALEXANDER: Yes. Caleb Alexander. I
20 voted no. I do want to first thank the sponsor,
21 and trial participants and their loved ones, as
22 well as the public speakers and FDA for making

1 today possible, and working so tirelessly to
2 develop new treatments for what I know is a
3 devastating disease.

4 It's clear that there's a very compelling
5 degree of unmet need, and it's also clear that many
6 with ALS would accept the product as is and are
7 willing to assume the risks associated with it,
8 including the risk that it may not work. As a
9 husband and father of three young children, I don't
10 have any doubt about the value of another day of
11 life, let alone another month of life or more to be
12 with the ones that you love.

13 But despite this, this law, and statute, and
14 regulatory guidance are clear and, unfortunately,
15 there are many features of CENTAUR that limit its
16 persuasiveness as a stand-alone trial in a
17 regulatory sense; in other words its persuasiveness
18 in a regulatory sense. Those include its small
19 size, baseline imbalances; even if you accept the
20 method of modeling outcomes and the baseline model
21 being appropriate, the treatment of missing data,
22 the modest impact on the primary outcome, and the

1 absence of any statistically significant effect on
2 secondary outcomes.

3 The open-label study has even more serious
4 limitations for it to be used as supplemental and
5 confirmatory evidence in this setting, including
6 the absence of a control group; high rates of
7 non-participation and dropout that we heard about;
8 treatment of tracheostomy or hospitalization as
9 death equivalents and a composite outcome; and
10 post hoc analyses that examined death alone.

11 I hope that the PHOENIX study will
12 provide -- I believe that it will provide very
13 important information about this product under
14 consideration today, and I hope that the protocol
15 and the trial can be finalized expeditiously to the
16 mutual satisfaction of both the applicant and FDA
17 so as to avoid some of the matters that have arisen
18 thus far in the course of this product's
19 development. Thank you again.

20 DR. MONTINE: Thank you.

21 Dr. Fischbeck?

22 DR. FISCHBECK: Yes. I agree, and I, too,

1 wanted to say something to acknowledge the really
2 moving testimony from patients and from patient
3 organizations we heard during the open session. I,
4 too, have taken care of ALS patients, and really
5 have friends who are patients with ALS. There's no
6 question, the burdensome nature of the disease and
7 the huge unmet need for safe and effective
8 treatment.

9 On the other hand, in terms of establishing
10 the conclusion that it's effective, we were asked
11 to look for substantial evidence with
12 persuasiveness and robustness, and I think this one
13 trial doesn't quite meet that bar. It was a
14 problematic study, problems with the randomization
15 and blinding, and all the other problems that
16 Dr. Alexander mentioned that came up during the
17 course of the meeting today.

18 I do think it would be a disservice to the
19 patients and their families to move ahead and
20 approve a treatment that is an uncertain benefit.
21 It gets in the way of developing truly a safe and
22 effective treatment if it turns out not to be

1 effective in phase 3. I hope that the phase 3
2 PHOENIX study is successful, but I think it's
3 necessary to decide to move forward and approve
4 this drug.

5 DR. MONTINE: Thank you.

6 Dr. Apostolova?

7 DR. SEO: Dr. Montine, I apologize for
8 interrupting.

9 Dr. Fischbeck, would you mind repeating your
10 vote into the record and stating your name?

11 DR. FISCHBECK: Yes. I voted no, and this
12 is Kenneth Fischbeck.

13 DR. SEO: Thank you.

14 DR. MONTINE: Thank you.

15 Dr. Apostolova, please?

16 DR. APOSTOLOVA: Yes. This is Liana
17 Apostolova, and regrettably I also had to vote no
18 based on the preponderance of the scientific
19 evidence we reviewed today. I, too, have friends
20 with ALS, and it's a terrible disease. Just like
21 Alzheimer's, there is no cure for these disorders,
22 and they affect not only the patient but the whole

1 family, and it's really devastating.

2 I recognize that this is an unmet need and
3 really important, but also considering the
4 data, -- the unfortunate, or fortunate circumstance
5 that there is another newly approved FDA treatment
6 which provides survival benefit, but unfortunate
7 for the trial that happened during the conduct of
8 this trial during the enrollment phase, and the
9 uncertainty of how that affects the clinical
10 outcome, and the uncertainty of patients who might
11 have dropped out from the active drug could have
12 started edaravone, and that could have helped their
13 outcome, and they were kept in the mITT analysis.

14 All of these concerns -- and with the
15 statistical analysis from the FDA that was
16 raised -- made me vote no today. The good silver
17 lining is you have a trial ongoing that could
18 potentially resolve the uncertainties that this
19 trial presents. It's just the data isn't as strong
20 as we would hope it would be.

21 DR. MONTINE: Thank you.

22 Mr. Weston?

1 MR. WESTON: Yes. Thank you.

2 First, to confirm that I voted yes on this
3 question, for me it was not a particularly
4 enigmatic or difficult choice, and I'll explain
5 why.

6 I want to acknowledge, first, as a patient
7 representative on this committee I expect to be
8 compensated by the FDA in connection with
9 preparation for and participation in this meeting;
10 that's part of the gig. However, I want to also
11 stress that I do not believe this expectation of
12 being paid affected by independence or my
13 objectivity and my analysis in my role as a patient
14 representative.

15 I'm one of these folks that find humor in
16 everything, including a neurodegenerative fatal
17 disease, and I want to remind everybody I have ALS.
18 I've had symptoms almost four years, so I'm quickly
19 becoming an outlier, perhaps; maybe not. I'm not
20 sure I trust all the numbers that are out there.

21 I'm going to resist quoting Mark Twain and
22 his commentary about statistics -- I think

1 everybody knows that quote -- but I will inject
2 some humor that comes from my brother's experience
3 with some neurologists. He's always observed that
4 neurologists can tell you what's wrong, but they
5 can't do anything about it.

6 I think today we have an opportunity to
7 forward a recommendation to the larger FDA that may
8 help change this. Both the applicant and the FDA
9 agree that this drug causes no material harm to
10 people that take it. It further appears there will
11 be no real negative impact on the currently
12 enrolling PHOENIX phase 3 study, as best I
13 understand what's being said.

14 Notwithstanding the training, and the
15 certification, and the administration of the
16 ALSFRS-Revised, it's a very subjective instrument.
17 I've administered it to myself numerous times.
18 I've had it administered numerous times, and it's
19 not great, but for now it's the accepted measure
20 until we get something better.

21 There are little arguments as to the major
22 conclusions of the CENTAUR study, but the ALSFRS

1 shows a slower decline in function, and there's a
2 somewhat longer survival period of somewhere around
3 5 years, maybe less, maybe more. Before I got ALS,
4 I would have pooh-poohed that and said, "But what's
5 death?" But as many of my fellow patients
6 testified today, that can be a very big deal,
7 particularly if your functional abilities are not
8 declining rapidly.

9 I do think this is a case where the FDA
10 should exhibit regulatory flexibility,
11 notwithstanding the imperfection of the study and,
12 by the way, the imperfection of their critique of
13 the study. In my view, it is preferable that this
14 drug be approved and made available nationwide
15 rather than having desperate persons scrambling to
16 combine its two ingredients and self-medicate.

17 We already have two marginally effective
18 treatments, edaravone, which has been around for
19 what? About five years; very difficult to use.
20 And of course riluzole, which is easy to use but
21 also marginally effective. I think we should add a
22 third standard of care, or maybe a second standard

1 of care to the pharmacy.

2 Those of us that live with ALS often have a
3 very, very high tolerance of risk, and those people
4 should be allowed to decide for themselves. I
5 don't know whether or how quickly insurance
6 companies will begin to add this drug if it's
7 approved to their formularies, but today the
8 ingredients, if purchased on the open market, have
9 to be paid for by individual patients, and at least
10 one of these ingredients is damn expensive, as I
11 understand it.

12 I, too, look forward to more and stronger
13 data. I've never seen a study that doesn't
14 recommend further study, so we need more data. We
15 need more objective data both from the clinical
16 study, as well as from use by non-study
17 participants who are living with ALS that are able
18 to take this drug, hopefully following its rapid
19 approval. Thank you, Mr. Chairman.

20 DR. MONTINE: Thank you.

21 Dr. Robert Alexander?

22 DR. R. ALEXANDER: Yes. This is Robert

1 Alexander, and I voted no. I listened very
2 carefully to the powerful testimony of the many
3 patients who suffer from ALS and their family
4 members, and I found it very moving. But in the
5 end, I had to agree with FDA that the study on its
6 own doesn't establish that this drug is effective
7 in the treatment of ALS for the reasons they
8 enumerated, including the relatively small initial
9 sample size, and particularly the size of the
10 placebo group; the amount of missing data;
11 potential imbalances between the treatment groups;
12 and probably more importantly, the modest effect on
13 the primary endpoint and the weaker absent support
14 from the secondary endpoints.

15 It was difficult to know how much weight to
16 assign the survival analysis given the exploratory
17 nature. So I think we really need to wait for the
18 results of the confirmatory trial to determine
19 whether or not AMX0035 is effective. Thank you.

20 DR. MONTINE: Thank you.

21 For the record, my name is Thomas Montine,
22 and I voted no. Like my other committee members, I

1 wish to acknowledge the deep respect that I have
2 for family members and loved ones of people with
3 ALS and the deeply compelling testimonies that we
4 heard today. I'm encouraged by the safety and
5 encouraging data, promising data in CENTAUR for
6 possible effectiveness of this drug combination,
7 but on balance I thought that the data presented
8 did not meet the threshold of being a single very
9 persuasive study. As many others have said, I look
10 forward to a rapid and successful conclusion, I
11 hope, of the PHOENIX study. Thank you.

12 Before we adjourn, are there any last
13 comments from the FDA?

14 DR. DUNN: This is Billy Dunn. I just
15 wanted to say thank you, first, to the patients and
16 all participants in our open public hearing who
17 shared with us your perspectives. I echo the
18 comments of, it seems, every committee member in
19 responding to and acknowledging the strength and
20 importance of those comments.

21 We stressed in our background materials the
22 engagement that we've had with the community over

1 the years. That's very important to us, and it was
2 represented today in the important input that you
3 provided. I'd like to thank the committee members
4 for their clearly scientific and dispassionate
5 consideration of the information presented to them
6 in the face of a very difficult data package. We
7 hear your thoughtful analysis of the same issues
8 that the sponsor and we have been discussing,
9 obviously, for quite some time and working hard to
10 consider.

11 We're deeply appreciative for your input.
12 As we said at the opening, and Dr. Buracchio
13 pointed out in her introductory comments, we sought
14 and need your input into this decision, and we
15 value it greatly, so we're deeply appreciative of
16 your time and efforts in this regard.

17 Thank you, Dr. Montine.

18 **Adjournment**

19 DR. MONTINE: Thank you, Dr. Dunn.

20 With that, I will just briefly add my
21 gratitude to everyone's time and thoughtfulness
22 today: patients, their loved ones, patient

1 advocates, the team from Amylyx for their
2 commitment to developing new therapies for patients
3 with ALS, and then of course to the FDA staff for
4 the tremendous amount of work they've done to
5 evaluate this proposal and the team that put
6 together a seamless meeting for us today.

7 So thank you all. We will now adjourn this
8 meeting.

9 (Whereupon, at 5:03 p.m., the meeting was
10 adjourned.)

11
12
13
14
15
16
17
18
19
20
21
22