

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ONCOLOGIC DRUGS ADVISORY COMMITTEE (ODAC) MEETING

Virtual Meeting

Thursday, April 21, 2022

12:00 p.m. to 3:45 p.m.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Meeting Roster

DESIGNATED FEDERAL OFFICER (Non-Voting)

She-Chia Chen, PharmD

Division of Advisory Committee and
Consultant Management
Office of Executive Programs, CDER, FDA

ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)

Ranjana H. Advani, MD

Physician Leader, the Lymphoma
Clinical Care Program
Saul A. Rosenberg Professor of Lymphoma
Stanford University Medical Center
Stanford, California

Mark R. Conaway, PhD

Professor and Director of Translational Research
Division of Translational Research and
Applied Statistics
Department of Public Health Sciences
University of Virginia
Charlottesville, Virginia

1 **Massimo Cristofanilli, MD, FACP**

2 Chief of Breast Medical Oncology

3 Associate Director of Precision Medicine

4 Meyer Cancer Center (MCC)

5 Scientific Director of the Englander Institute of

6 Precision Medicine

7 Weill Cornell Medicine

8 Division of Hematology-Oncology

9 New York, New York

10

11 **Jorge A. Garcia, MD, FACP**

12 *(Acting Chairperson)*

13 Chair, Division of Solid Tumor Oncology

14 George and Edith Richman Distinguished

15 Scientist Chair

16 Director, GU Oncology Program

17 University Hospitals Seidman Cancer Center

18 Case Comprehensive Cancer Center

19 Case Western Reserve University

20 Cleveland, Ohio

21

22

1 **Christopher H. Lieu, MD**

2 Associate Professor of Medicine

3 Associate Director for Clinical Research

4 Director, Gastrointestinal Medical Oncology Program

5 University of Colorado

6 Aurora, Colorado

7

8 **Ravi A. Madan, MD**

9 Clinical Director

10 Genitourinary Malignancies Branch

11 Center for Cancer Research

12 National Cancer Institute

13 National Institutes of Health

14 Bethesda, Maryland

15

16 **David E. Mitchell**

17 *(Consumer Representative)*

18 Founder, Patients for Affordable Drugs

19 Bethesda, Maryland

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Jorge J. Nieva, MD

Associate Professor of Clinical Medicine

Section Head, Solid Tumors

University of Southern California (USC) Norris

Comprehensive Cancer Center

Keck School of Medicine of USC

Los Angeles, California

Anthony D. Sung, MD

Associate Professor of Medicine

Duke University School of Medicine

Duke Adult Blood and Marrow Transplant Clinic

Durham, North Carolina

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBER

(Non-Voting)

Jonathan D. Cheng, MD

(Industry Representative)

Senior Vice President
Head of Oncology Development
Global Drug Development
Bristol-Myers Squibb
Lawrenceville, New Jersey

1 **TEMPORARY MEMBERS (Voting)**

2 **Jessie Lai-Sim Au, PharmD, PhD**

3 Director

4 Institute of Quantitative Systems Pharmacology

5 Carlsbad, California

6 Moser Endowed Chair of Pharmaceutical Sciences

7 University of Oklahoma Health Sciences

8 Oklahoma City, Oklahoma

9 Chief Scientific Officer

10 Optimum Therapeutics LLC

11 Carlsbad, California

12 Distinguished University Professor Emeritus

13 The Ohio State University

14 Columbus, Ohio

15

16 **Andy I. Chen MD, PhD**

17 Associate Professor

18 Knight Cancer Institute

19 Oregon Health & Science University

20 Portland, Oregon

21

22

1 **Christopher S. Coffey, PhD, MS**

2 Professor, Department of Biostatistics

3 Director, Clinical Trials Statistical & Data

4 Management Center

5 University of Iowa

6 Iowa City, Iowa

7

8 **Louis F. Diehl, MD**

9 Professor of Medicine

10 Duke University

11 Durham, North Carolina

12

13 **Kieron M. Dunleavy, MD**

14 Director of Hematology

15 Lombardi Comprehensive Cancer Center

16 Medstar Georgetown University Hospital

17 Georgetown University

18 Washington, District of Columbia

19

20

21

22

1 **Walter K. Kraft, MD, MS, FACP**

2 Professor of Pharmacology, Medicine & Surgery

3 Department of Pharmacology and

4 Experimental Therapeutics

5 Thomas Jefferson University

6 Philadelphia, Pennsylvania

7

8 **Michele Nadeem-Baker, MS**

9 *(Patient Representative)*

10 Charlestown, Massachusetts

11

12 **Gita Thanarajasingam, MD**

13 Associate Professor, Division of Hematology

14 Department of Medicine

15 Mayo Clinic

16 Rochester, Minnesota

17

18

19

20

21

22

1 **FDA PARTICIPANTS (Non-Voting)**

2 **Richard Pazdur, MD**

3 Director, Oncology Center of Excellence (OCE)

4 Director (Acting)

5 Office of Oncologic Diseases (OOD)

6 Office of New Drugs (OND), CDER, FDA

7

8 **Marc R. Theoret, MD**

9 Deputy Center Director, OCE

10 Supervisory Associate Director (Acting)

11 OOD, OND, CDER, FDA

12

13 **Nicole Gormley, MD**

14 Director

15 Division of Hematologic Malignancies II (DHM II)

16 OOD, OND, CDER, FDA

17

18 **Nicholas Richardson, DO, MPH**

19 Clinical Team Leader

20 DHM II, OOD, OND, CDER, FDA

21

22

1 **Yvette Kasamon, MD**

2 Team Leader

3 DHM II, OOD, OND, CDER, FDA

4

5 **Thomas Gwise, PhD**

6 Director

7 Division of Biometrics IX

8 Office of Biostatistics

9 Office of Translational Sciences (OTS), CDER, FDA

10

11 **Brian Booth, PhD**

12 Director

13 Division of Cancer Pharmacology I

14 Office of Clinical Pharmacology

15 OTS, CDER, FDA

16

17

18

19

20

21

22

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order	
4	Jorge Garcia, MD, FACP	13
5	Introduction of Committee	
6	She-Chia Chen, PharmD	13
7	Conflict of Interest Statement	
8	She-Chia Chen, PharmD	20
9	FDA Introductory Comments	
10	Nicole Gormley, MD	24
11	FDA Presentation	
12	Phosphatidylinositol 3-kinase (PI3K)	
13	Inhibitors in Hematologic Malignancies	
14	Nicholas Richardson, DO, MPH	38
15	Clarifying Questions to Presenters	73
16	Questions to the Committee and Discussion	140
17	Adjournment	179
18		
19		
20		
21		
22		

P R O C E E D I N G S

(12:00 p.m.)

Call to Order

DR. GARCIA: Good afternoon and welcome. I would first like to remind everyone to please mute your line when you're not speaking. For media and press, the FDA press contact is Chanapa Tantibanchachai. Her email and phone number are currently displayed.

My name is Jorge Garcia, and I will be chairing today's meeting. I will now call the April 21, 2022 meeting of the Oncology Drug Advisory Committee to order. Dr. She-Chia Chen is the designated federal officer for this meeting, and she will begin with introductions.

Introduction of Committee

DR. S. CHEN: Good afternoon. My name is She-Chia Chen. I am the designated federal officer for this meeting. When I call your name, please introduce yourself by saying your name and affiliation. We will first start with ODAC members.

Dr. Advani?

1 DR. ADVANI: Dr. Advani, Stanford.

2 DR. S. CHEN: Dr. Conaway?

3 DR. CONAWAY: Mark Conaway, biostatistician,
4 University of Virginia.

5 DR. S. CHEN: Dr. Cristofanilli?

6 DR. CRISTOFANILLI: Yes. Dr. Massimo
7 Cristofanilli, breast medical oncologist, Weill
8 Cornell, New York.

9 DR. S. CHEN: Dr. Garcia?

10 DR. GARCIA: Jorge Garcia, chief, medical
11 oncology, University Hospitals Seidman Cancer Center,
12 Case Western Reserve University, Cleveland, Ohio.

13 DR. S. CHEN: Dr. Lieu?

14 DR. LIEU: Christopher Lieu, GI medical
15 oncologist, University of Colorado.

16 DR. S. CHEN: Dr. Madan?

17 DR. MADAN: Hi. Ravi Madan, GU medical
18 oncologist, National Cancer Institute.

19 DR. S. CHEN: Mr. Mitchell?

20 MR. MITCHELL: I'm David Mitchell. I'm the
21 consumer representative to the ODAC, and I'm the
22 founder of Patients for Affordable Drugs, and I'm a

1 patient in ongoing treatment for hematological
2 malignancy, multiple myeloma.

3 DR. S. CHEN: Dr. Nieva?

4 DR. NIEVA: Jorge Nieva, section head, Solid
5 Tumors, University of Southern California, Norris
6 Comprehensive Cancer Center.

7 DR. S. CHEN: And Dr. Sung?

8 (No response.)

9 DR. S. CHEN: Dr. Sung, I think you might be
10 muted.

11 (No response.)

12 DR. S. CHEN: We'll go back to Dr. Sung
13 later.

14 Next are our temporary voting members.

15 Dr. Au?

16 DR. AU: I'm Jessie Au. I'm founding
17 director of Institute of Quantitative Systems
18 Pharmacology, Carlsbad, California.

19 DR. S. CHEN: Dr. Chen?

20 DR. A. CHEN: Andy Chen, malignant
21 hematology, Oregon Health & Science University.

22 DR. S. CHEN: Dr. Coffey?

1 DR. COFFEY: Chris Coffey. I'm a professor
2 of biostatistics at the University of Iowa.

3 DR. S. CHEN: Dr. Diehl?

4 DR. DIEHL: Lou Diehl, hematologic
5 malignancies, Duke University.

6 DR. S. CHEN: I'm going to go back to the
7 ODAC member.

8 Dr. Sung, please unmute yourself, introduce
9 yourself, and say your affiliation, please.

10 (No response.)

11 DR. S. CHEN: You're still muted, Dr. Sung.
12 Can you give a shot?

13 (No response.)

14 DR. S. CHEN: Okay. We'll come back later.
15 I'll continue with temporary voting members.

16 Dr. Dunleavy?

17 DR. DUNLEAVY: I'm Kieron Dunleavy. I'm the
18 director of hematology at Lombardi Cancer Center at
19 Georgetown University in Washington, DC.

20 DR. S. CHEN: Dr. Kraft?

21 DR. KRAFT: Walter Kraft. I'm an internist
22 and clinical pharmacologist at Thomas Jefferson

1 University in Philadelphia.

2 DR. S. CHEN: Ms. Nadeem-Baker?

3 MS. NADEEM-BAKER: I am a CLL patient, and I
4 am the patient representative on this panel.

5 DR. S. CHEN: And Dr. Thanarajasingam?

6 DR. THANARAJASINGAM: Dr. Thanarajasingam.
7 I'm a lymphoma hematologist and a health outcomes
8 researcher at the Mayo Clinic in Rochester,
9 Minnesota.

10 DR. S. CHEN: Next are the industry
11 representatives to the committee.

12 Dr. Cheng?

13 DR. CHENG: Hi. I'm Jonathan Cheng. I'm the
14 industry rep. I'm a medical oncologist, and I'm with
15 Bristol-Myers Squibb.

16 DR. S. CHEN: Last are FDA participants.

17 Dr. Pazdur?

18 DR. PAZDUR: Richard Pazdur, director,
19 Oncology Center of Excellence, FDA.

20 DR. S. CHEN: Dr. Theoret?

21 DR. THEORET: Hi. Dr. Marc Theoret, deputy
22 center director, Oncology Center of Excellence, FDA.

1 DR. S. CHEN: Dr. Gormley?

2 DR. GORMLEY: Hi. I'm a hematologist and the
3 division director of the Division of Hematologic
4 Malignancies II, FDA.

5 DR. S. CHEN: Dr. Richardson?

6 DR. RICHARDSON: Hi. Nicholas Richardson,
7 clinical team leader, Division of Hematologic
8 Malignancies II, FDA.

9 DR. S. CHEN: Dr. Kasamon?

10 DR. KASAMON: Hi. Yvette Kasamon, clinical
11 team, Division of Hematologic Malignancies II, FDA.

12 DR. S. CHEN: Dr. Gwise?

13 DR. GWISE: Hello. I'm Thomas Gwise. I'm
14 the director of the Division of Biometrics IX at FDA.

15 DR. S. CHEN: And Dr. Booth?

16 DR. BOOTH: Good afternoon. My name is Brian
17 Booth. I'm the director of the Division of Cancer
18 Pharmacology I in the Office of Clinical Pharmacology
19 at the FDA.

20 DR. S. CHEN: Okay. I'm going to go back to
21 Dr. Sung again.

22 Dr. Sung, please unmute yourself. Again,

1 introduce your name and say your affiliation. Thank
2 you.

3 (No response.)

4 DR. GARCIA: For topics such as those being
5 discussed at this meeting, there are often a
6 variety of opinions, some of which are quite
7 strongly held. Our goal is that this meeting will
8 be a fair and open forum for discussion of these
9 issues and that individuals can express their views
10 without interruption.

11 Thus, as a gentle reminder, individuals will
12 be allowed to speak into the record only if
13 recognized by the chairperson. We look forward to
14 a productive meeting.

15 In the spirit of the Federal Advisory
16 Committee Act and the Government in the Sunshine
17 Act, we ask that the advisory committee members
18 take care that their conversations about the topic
19 at hand take place in the open forum of the
20 meeting.

21 We are aware that members of the media are
22 anxious to speak with the FDA about these

1 proceedings, however, FDA will refrain from
2 discussing the details of this meeting with the
3 media until its conclusion. Also, the committee is
4 reminded to please refrain from discussing the
5 meeting topic during the break. Thank you.

6 Dr. She-Chia Chen will now read the Conflict
7 of Interest Statement for the meeting.

8 Dr. Chen?

9 **Conflict of Interest Statement**

10 DR. S. CHEN: Thank you, Dr. Garcia.

11 The Food and Drug Administration, FDA, is
12 convening today's meeting of the Oncologic Drugs
13 Advisory Committee under the authority of the
14 Federal Advisory Committee Act, FACA, of 1972.
15 With the exception of the industry representative,
16 all members and temporary voting members of the
17 committee are special government employees, SGEs,
18 or regular federal employees from other agencies
19 and are subject to federal conflict of interest
20 laws and regulations.

21 The following information on the status of
22 this committee's compliance with federal ethics and

1 conflict of interest laws, covered by but not
2 limited to those found at 18 U.S.C. Section 208, is
3 being provided to participants in today's meeting
4 and to the public.

5 FDA has determined that members and
6 temporary voting members of this committee are in
7 compliance with federal ethics and conflict of
8 interest laws. Under 18 U.S.C. Section 208,
9 Congress has authorized FDA to grant waivers to
10 special government employees and regular federal
11 employees who have potential financial conflicts
12 when it is determined that the agency's need for a
13 special government employee's services outweighs
14 his or her potential financial conflict of interest
15 or when the interest of a regular federal employee
16 is not so substantial as to be deemed likely to
17 affect the integrity of the services which the
18 government may expect from the employee.

19 Related to the discussion of today's
20 meeting, members and temporary voting members of
21 this committee have been screened for potential
22 financial conflicts of interests of their own as

1 well as those imputed to them, including those of
2 their spouses or minor children and, for purposes
3 of 18 U.S.C. Section 208, their employers. These
4 interests may include investments; consulting;
5 expert witness testimony; contracts, grants,
6 CRADAs; teaching, speaking, writing; patents and
7 royalties; and primary employment.

8 Today's agenda involves discussion of the
9 appropriate approach for phosphatidylinositol
10 3-kinase inhibitors currently under development in
11 patients with hematologic malignancies and whether
12 randomized data should be required to support a
13 demonstration of substantial evidence of the
14 effectiveness and that the drug is safe for its
15 intended use in the proposed population.

16 This is a particular matters meeting during
17 which general issues will be discussed. Based on
18 the agenda for today's meeting and all financial
19 interests reported by the committee members and
20 temporary voting members, no conflict of interest
21 waivers have been issued in connection with this
22 meeting.

1 To ensure transparency, we encourage all
2 standing members and temporary voting members to
3 disclose any public statements that they have made
4 concerning the product at issue.

5 With respect to FDA's invited industry
6 representative, we would like to disclose that
7 Dr. Jonathan Cheng is participating in this meeting
8 as a non-voting industry representative acting on
9 behalf of regulated industry. Dr. Chen's role at
10 this meeting is to represent industry in general
11 and not any particular company. Dr. Chen is
12 employed by Bristol-Myers Squibb.

13 We would like to remind members and
14 temporary voting members that if the discussions
15 involve any other topics not already on the agenda
16 for which an FDA participant has a personal or
17 imputed financial interest, the participants need
18 to exclude themselves from such involvement, and
19 their exclusion will be noted for the record. FDA
20 encourages all other participants to advise the
21 committee of any financial relationships that they
22 may have regarding the topic that could be affected

1 by the committee's discussion. Thank you.

2 DR. GARCIA: We will proceed with the FDA
3 introductory comments from Dr. Nicole Gormley.

4 Dr. Gormley?

5 **FDA Introductory Comments - Nicole Gormley**

6 DR. GORMLEY: Thank you.

7 Good afternoon. I'm Nicole Gormley, a
8 hematologist in the Division of Hematologic
9 Malignancies II at the FDA. I will provide a brief
10 introduction to the PI3-kinase inhibitors and the
11 reasons for discussing this drug class at an advisory
12 committee meeting.

13 This committee meeting is not a typical ODAC
14 where we would discuss the risk-benefit profile of a
15 specific product, but instead we will discuss the
16 class of PI3-kinase inhibitors as a whole, the unique
17 toxicities they present, and the best development
18 approach for future drugs in this class.

19 I'd like to start by providing a brief
20 overview of the drugs in this class and their
21 mechanisms of action. Overactivation of the
22 PI3-kinase pathway is common in malignancy.

1 Activation of the PI3-kinase pathway can occur via
2 several mechanisms, including mutations of the PI3KCA
3 gene or mutations of downstream effector proteins.

4 Constitutive activation of the PI3-kinase
5 pathway is common in hematologic malignancies. The
6 PI3-kinase family of enzymes is grouped into three
7 classes. Class 1A and 1B PI3 kinases activate or
8 inhibit downstream proteins which affect cell growth,
9 apoptosis, cell cycle regulation, glucose metabolism,
10 and DNA repair.

11 The PI3-kinase inhibitors are targeted
12 immunomodulatory drugs and inhibit different
13 isoforms. The PI3-kinase inhibitors, which have been
14 developed for hematologic malignancies, are listed
15 here. All of the PI3-kinase inhibitors approved for
16 hematologic malignancies inhibit the delta isoform.
17 While idelalisib and umbralisib are selected delta
18 inhibitors, copanlisib inhibits both delta and alpha
19 isoforms, and duvelisib inhibits both delta and
20 gamma. Umbralisib also inhibits casein kinase CK1
21 epsilon.

22 Of note, alpelisib is the only other

1 FDA-approved PI3-kinase inhibitor. It is approved
2 for the treatment of PIK3CA mutated advanced or
3 metastatic breast cancer and for the treatment of
4 patients with severe manifestations of PIK3CA-related
5 overgrowth spectrum. It is an alpha inhibitor and is
6 not within the scope of the meeting today.

7 The PI3-kinase inhibitors' distinct
8 mechanisms of action result in a differentiated
9 safety profile depending on the isoform targeted.
10 The delta and gamma isoforms are preferentially
11 expressed on leukocytes, resulting in infections and
12 immune-mediated toxicities.

13 The infections may occur, in part, because of
14 treatment-related cytopenias, but also because of the
15 modulation of the immune system by the PI3-kinase
16 inhibitor. Infections include pneumonia,
17 opportunistic infections like PCP and CMV
18 reactivation.

19 With regards to the immune-mediated
20 toxicities, the delta isoform is important for
21 T regulatory cell function. It is thought that the
22 decreased T regulatory cell activity and increased

1 CD8 cytotoxicity damages normal tissue, leading to
2 the immune-mediated toxicities associated with these
3 products. Hepatitis, pneumonitis, colitis, and rash
4 have been observed. Younger patients and those less
5 heavily pretreated with more robust immune systems
6 may be at greater risk for these immune-mediated.
7 toxicities.

8 The alpha isoform is ubiquitously expressed
9 and is essential to cellular growth and metabolism,
10 and glucose homeostasis. Result in toxicities from
11 alpha inhibition include hyperglycemia and
12 hypertension.

13 To highlight this further, I have included
14 the common toxicities observed with the approved
15 PI3-kinase inhibitors. Of note, there have been high
16 rates of severe grade 3 or higher adverse events,
17 high rates of serious adverse events, and significant
18 discontinuations and dose reductions due to adverse
19 events. The AEs observed include high rates of
20 infection and immune-mediated toxicity. Copanlisib,
21 the only inhibitor in hematologic malignancies that
22 also inhibits the alpha isoform, has hyperglycemia

1 and hypertension-related toxicity.

2 This slide lists the labeling and other risk
3 mitigation strategies used to communicate the risks
4 associated with these products. Idelalisib and
5 duvelisib have boxed warnings and communication REMS.
6 The warnings and precautions for each of the products
7 are listed.

8 The first PI3-kinase inhibitor approved in
9 the U.S. was idelalisib in 2014. What is notable is
10 that other than in CLL, where the initial approvals
11 were based on randomized trials, the initial
12 approvals for other indolent lymphomas -- follicular
13 lymphoma, marginal zone lymphoma -- were based on
14 single-arm trials and were granted accelerated
15 approval.

16 Also of note, in December 2021, the sponsor
17 for duvelisib, in consultation with the FDA, decided
18 to voluntarily withdraw the FL indication, and in
19 February 2022, the sponsor of idelalisib decided to
20 voluntarily withdraw the FL and SLL indications for
21 that product. And most recently, last week, the
22 sponsor for umbralisib announced that they will

1 withdraw the FL and MZL indications. These
2 withdrawals will be discussed further momentarily.

3 There are two approval pathways available in
4 the U.S., regular approval and accelerated approval.
5 Accelerated approval is available for drugs or
6 biologics that are intended to treat a serious or
7 life-threatening illness. The product should provide
8 a meaningful therapeutic benefit over available
9 therapy, and approval is based on an endpoint
10 reasonably likely to predict clinical benefit or an
11 intermediate endpoint. For products granted
12 accelerated approval, there is often a requirement to
13 conduct post-approval trials to verify the
14 anticipated clinical benefit.

15 I'd like to briefly review the evidentiary
16 criteria for approval. It is important to note that
17 drugs granted accelerated approval or regular
18 approval must meet the same statutory requirements
19 for safety and effectiveness.

20 For safety, there must be sufficient
21 information to determine that the drug is safe for
22 use under the conditions prescribed, recommended, or

1 suggested in labeling. For effectiveness, there must
2 be substantial evidence of effectiveness that allows
3 for the conclusion that the drug will have the effect
4 it purports or is represented to have under the
5 conditions of use prescribed in labeling.

6 This slide outlines the FDA-approved
7 treatment for CLL and indolent non-Hodgkin lymphoma.
8 These products are often used together as part of
9 combination therapy and may be used for retreatment
10 at relapse. The approved classes include
11 chemoimmunotherapies; cd20 monoclonal antibodies;
12 BTK; BCL-2; and EZH2 inhibitors; and CAR T therapy.

13 There are several central issues that we will
14 discuss further as it relates to the PI3-kinase
15 inhibitors; specifically, a potential detriment in
16 overall survival in multiple randomized trials;
17 toxicity and tolerability of the PI3-kinase
18 inhibitors; dosing considerations and an adequate
19 dose optimization of several of the products to date;
20 and the limitations of single-arm trials.

21 There have been several randomized-controlled
22 trials evaluating a PI3-kinase inhibitor in

1 combination with immunotherapy or chemoimmunotherapy
2 in patients with CLL or indolent non-Hodgkin lymphoma
3 that have shown worse overall survival compared to
4 the control arm.

5 Notably, the overall survival information
6 from these trials is early and represents a low
7 number of events; nevertheless, while the trials show
8 a favorable impact on efficacy endpoints, just as
9 progression-free survival or overall response rate,
10 there have been higher rates of death, and the
11 overall survival results are concerning.

12 It is also important to consider the patient
13 population, those with CLL and indolent non-Hodgkin
14 lymphoma. These diseases have a long natural
15 history, and progression isn't necessarily an
16 indication for treatment. While these are serious
17 and life-threatening diseases and there is a need for
18 continued development of products to treat relapsed
19 or refractory disease, there are multiple
20 therapeutics with established efficacy and safety.

21 While the PI3-kinase inhibitors have a unique
22 toxicity profile and several trials have demonstrated

1 concerning overall survival results, some of these
2 findings may be related to poor dose optimization.
3 The optimal dose that maximizes efficacy and
4 minimizes safety may not have been identified.

5 Across their class, there's been limited dose
6 exploration. Many doses were determined using a
7 maximum tolerated dose, or MTD, approach, with
8 limited exploration of lower dose levels. For each
9 of the approved PI3-kinase inhibitors, there are
10 exposure-response relationships for safety, but
11 exposure-response relationships for efficacy have not
12 been consistently observed. High rates of
13 discontinuation, interruption, and modification also
14 suggest the approved doses may be poorly tolerated.

15 There have been voluntary withdrawal of
16 approval of three PI3-kinase inhibitor indications to
17 date: idelalisib, duvelisib, umbralisib. Idelalisib
18 for relapsed/refractory FL or SLL was granted
19 accelerated approval in 2014 based on a single-arm
20 trial. At the time, three accelerated approval
21 postmarketing requirements were issued to verify the
22 clinical benefit.

1 The first PMR was a dose optimization study
2 for chronic administration. The second PMR required
3 submission of the final report and data showing
4 safety and efficacy from study 0124, a phase 3,
5 2-arm, randomized placebo-controlled trial of
6 idelalisib in combination with rituximab in patients
7 with previously treated iNHL. And finally, the third
8 PMR required submission of the results from
9 Study 0125, a phase 3 randomized, placebo-controlled
10 trial of idelalisib in combination with bendamustine
11 and rituximab in patients with previously treated
12 iNHL.

13 In 2016, the FDA was notified that three
14 randomized control trials were terminated due to
15 increased death in the idelalisib arm. These
16 terminated trials included the 0124 and 0125
17 accelerated approval confirmatory trial. The third
18 terminated trial was evaluating idelalisib in
19 combination with bendamustine and rituximab in
20 patients with treatment naïve CLL.

21 Several regulatory actions were taken by the
22 FDA as a result of these findings. A limitation of

1 use was added to the label that idelalisib is not
2 indicated for first-line treatment and is not
3 indicated in combination with bendamustine and
4 rituximab in follicular lymphoma.

5 There were updates to the boxed warning and
6 warnings and precautions. A new PMR was issued to
7 conduct a trial to establish the safe and effective
8 dose of idelalisib in patients with
9 relapsed/refractory FL who have no other therapeutic
10 options. The PMR was to be supported by Study 1580.

11 In February 2022, citing challenges in
12 enrollment to the confirmatory trial and inability to
13 provide evidence to verify the clinical benefits of
14 idelalisib in patients with FL and SLL, the sponsor
15 in consultation with the FDA decided to voluntarily
16 withdraw the FL/SLL indication from the U.S. market.

17 The second voluntary withdrawal was for
18 duvelisib. Duvelisib for relapsed/refractory FL was
19 granted accelerated approval in 2018 based on a
20 single-arm trial. At that time, one accelerated
21 approval postmarketing requirement was issued to
22 verify the clinical benefit. The planned trial to

1 support this was going to be the DUETTO trial, the
2 phase 3 randomized trial of duvelisib plus rituximab
3 compared with rituximab alone or rituximab in
4 combination with CDP. The trial was never initiated
5 due to feasibility issues and a changing treatment
6 landscape. Because of the inability to provide
7 evidence to verify the clinical benefit of duvelisib
8 in patients with FL, the sponsor in consultation with
9 the FDA decided to voluntarily withdraw the
10 indication from the U.S. in December of 2021.

11 On April 15, 2022, the umbralisib and
12 ublituximab applications for the U2 combination
13 regimen were voluntarily withdrawn. This was due to
14 updated overall survival data from the unity
15 UNITY-CLL trial which showed an increase in overall
16 survival imbalance in favor of the control arm. At
17 the same time, the sponsor also announced the
18 voluntary withdrawal of the existing umbralisib
19 indication of relapsed/refractory FL and MZL under
20 accelerated approval.

21 The withdrawn indications were under
22 accelerated approval in which the approvals were

1 based on single-arm trials. With the PI3-kinase
2 inhibitors, we have seen several instances in which
3 the confirmatory trial with randomized data
4 identified concerning overall survival results and
5 concerning toxicity. It is worth underscoring at
6 this point some of the limitations of single-arm
7 trials.

8 In single-arm trials, the safety findings are
9 challenging to interpret. Without a comparator, it
10 can be challenging to attribute adverse events
11 observed to the drug or to the underlying disease.
12 The efficacy can also be challenging to interpret.
13 The responses observed may not translate into true
14 clinical benefit.

15 Comparisons due to historical populations or
16 cross-trial comparisons are fraught with limitations.
17 When evaluating single-arm trials for accelerated
18 approval, there is a requirement that the therapy
19 provide a clinically meaningful advantage over
20 available therapy. Given the different temporal
21 conduct of trials, differences in the patient
22 population and other changes to standards of care,

1 the comparative assessment can be challenging.

2 Finally, because of the aforementioned
3 limitations of cross-trial and historical
4 comparisons, to avoid these and other biases,
5 time-to-event endpoints such as progression-free
6 survival and overall survival cannot be accurately
7 assessed or interpreted in single-arm trials.
8 Therefore, in a single-arm trial, it is hard to
9 balance the observed efficacy with toxicity to
10 appreciate the true benefit-risk of the drug in the
11 intended patient population.

12 The inability to assess overall survival in
13 single-arm trials is important because overall
14 survival is an objective measure of clinical benefit
15 and is both a safety and an efficacy endpoint.
16 Overall survival incorporates the impact of toxicity
17 and is useful in assessing both short-term and
18 long-term impacts of therapy.

19 We would like for the committee to please
20 discuss the observed toxicity of the PI3-kinase
21 inhibitor class and whether randomized data are
22 warranted with an assessment of OS to support the

1 evaluation of benefit-risk in patients with
2 hematologic malignancies.

3 The voting question is, given the observed
4 toxicities with this class, previous randomized
5 trials with the potential detriment in OS, and a
6 narrow range between effective and toxic doses,
7 should future approvals of PI3-kinase inhibitors be
8 supported by randomized data?

9 Thank you for your attention. Dr. Richardson
10 will discuss these issues in further detail?

11 DR. GARCIA: Thank you, Dr. Gormley.

12 We will now proceed with the FDA presentation
13 with Dr. Nicholas Richardson.

14 Dr. Richardson?

15 **FDA Presentation - Nicholas Richardson**

16 DR. RICHARDSON: Good afternoon. I'm
17 Nicholas Richardson, a pediatric
18 hematologist/oncologist in the Division of
19 Hematologic Malignancies II at the FDA. I will be
20 presenting the FDA's discussion on the PI3K
21 inhibitors in hematologic malignancies.

22 As mentioned by Dr. Gormley, this ODAC

1 meeting is not a typical product-specific ODAC. We
2 are here to discuss the class of PI3K inhibitors as a
3 whole, the unique toxicities they present, and the
4 best drug development approach for future PI3K
5 inhibitors that are developed in patients with
6 hematologic malignancies.

7 To support a class discussion, I will
8 highlight relevant data for each of the approved PI3K
9 inhibitors and hematologic malignancies that are
10 shown on the slide. This will be followed by a
11 class-wide discussion. The central issues we would
12 like to focus on today are multiple randomized trials
13 showing a potential detriment in overall survival;
14 toxicity of the PI3K inhibitor class; dosing
15 considerations and dose optimizations; and trial
16 design considerations regarding limitations of
17 single-arm trials.

18 The members of the FDA review team are listed
19 here. My presentation represents their collective
20 input. I would like to start with a brief overview
21 of the timeline for the approved PI3K inhibitors in
22 hematologic malignancies and relevant milestones.

1 The first PI3K inhibitor approved in the U.S. was
2 idelalisib in 2014 for patients with chronic
3 lymphocytic leukemia, follicular lymphoma, and small
4 lymphocytic lymphoma. The FL and SLL indications
5 were granted accelerated approval based on a
6 single-arm trial.

7 Subsequently, in March 2016, the FDA was
8 notified regarding three randomized trials with
9 idelalisib showing early signs of worse overall
10 survival. This prompted an FDA safety alert and an
11 update to the idelalisib label with updated safety
12 information and limitations of use.

13 In February of this year, the FL and SLL
14 indications under accelerated approval were
15 voluntarily withdrawn due to the inability to provide
16 evidence to verify clinical benefit for idelalisib in
17 patients with FL or SLL.

18 The second PI3K inhibitor approved was
19 copanlisib in 2017. Copanlisib was granted
20 accelerated approval in patients with relapsed
21 follicular lymphoma based on a single-arm trial. In
22 May of 2021, a supplemental new drug application for

1 copanlisib in patients with indolent non-Hodgkin
2 lymphoma was submitted based on the randomized
3 CHRONOS-3 trial. The application was subsequently
4 withdrawn in December of 2021 to allow for additional
5 analyses of data from ongoing trials.

6 The third PI3K inhibitor approved was
7 duvelisib in 2018. Duvelisib was approved for
8 patients with CLL or SLL and follicular lymphoma.
9 The FL indication was an accelerated approval based
10 on a single-arm trial. In December 2021, the
11 follicular lymphoma indication was voluntarily
12 withdrawn due to the inability to provide evidence to
13 verify clinical benefit for duvelisib in patients
14 with follicular lymphoma.

15 Lastly, umbralisib was granted accelerated
16 approval for follicular lymphoma and marginal zone
17 lymphoma in February 2021 based on a single-arm
18 trial. A subsequent supplemental new drug
19 application was submitted in May 2021 for patients
20 with CLL and SLL based on the randomized UNITY-CLL
21 trial. Based on ongoing analyses and concerns with
22 the UNITY-CLL trial, an FDA safety alert was issued

1 in February 2022 for a possible increased risk of
2 death in those treated with umbralisib.

3 Last week on April 15th, the application
4 based on the UNITY-CLL trial was withdrawn from the
5 FDA. In addition, the existing FL and MZL
6 indications for umbralisib, currently under
7 accelerated approval, are being voluntarily withdrawn
8 from the U.S. market.

9 Now we will transition to discussing the
10 relevant data for the approved PI3K inhibitors. We
11 will start with idelalisib, a PI3K delta inhibitor.
12 The issues we will highlight our decrements in
13 overall survival in several randomized trials, PI3K
14 associated toxicity, and dosing considerations.

15 Idelalisib was granted regular approval for
16 patients with relapsed CLL in combination with
17 rituximab in patients for whom rituximab alone would
18 be considered appropriate. The approval was based on
19 the 0116 study, a randomized placebo-controlled trial
20 that demonstrated a statistically significant benefit
21 in progression-free survival in those treated with
22 idelalisib plus rituximab, with an approximate

1 13-month Improvement in PFS with an adjusted hazard
2 ratio of the 0.15. At the time of approval, the
3 overall survival information was early with a total
4 of 19 overall survival events, or 9 percent, with an
5 estimated OS hazard ratio of 0.37, favoring the
6 idelalisib arm.

7 Idelalisib as monotherapy was also granted
8 accelerated approval for patients with relapsed
9 follicular lymphoma or small lymphocytic lymphoma
10 after at least two prior systemic therapies. This
11 was based on an overall response rate of 54 percent
12 in follicular lymphoma and 58 percent in small
13 lymphocytic lymphoma with associated durability from
14 a single-arm trial.

15 Based on the trial supporting the initial
16 approval of idelalisib, a notable toxicity profile
17 was observed. To mitigate risk, several measures
18 were included as part of the initial approval: a
19 boxed warning used to highlight adverse reactions so
20 serious in proportion to the potential benefit from
21 the drug that it is essential that it be considered
22 in assessing the risk and benefits of using the drug.

1 The initial approval of idelalisib included a
2 boxed warning for hepatotoxicity, diarrhea or
3 colitis, pneumonitis, and intestinal perforation.
4 Additionally, the toxicities rash, neutropenia, and
5 anaphylaxis were included as warnings and
6 precautions.

7 A risk evaluation and mitigation strategy, or
8 REMS, was included with the initial approval of
9 idelalisib. A REMS is a safety program used to
10 ensure a drug is safe and effective for its intended
11 use and that its benefits outweigh its risks.

12 Along with risk mitigation, a number of
13 postmarketing requirements were issued for
14 idelalisib. There was a postmarketing requirement
15 issued to conduct a trial to optimize the dose of
16 idelalisib in patients with follicular lymphoma or
17 small lymphocytic lymphoma. Additionally, two
18 postmarketing requirements were issued to verify the
19 clinical benefit of idelalisib in patients with
20 indolent non-Hodgkin lymphoma based on two ongoing
21 randomized trials. A total of four additional
22 postmarketing requirements for safety were issued.

1 These included characterization of the risk of
2 pneumonitis and to characterize long-term safety
3 across ongoing trials.

4 In March of 2016, the FDA was notified of
5 three randomized trials evaluating idelalisib in
6 combination with immunotherapy or chemoimmunotherapy
7 that were terminated due to increased deaths and
8 severe toxicity in idelalisib arms. These trials are
9 the 0123 trial in patients with untreated CLL and the
10 0124 and 0125 trials in patients with previously
11 treated indolent non-Hodgkin lymphoma. Each of the
12 trials was a randomized, double-blind, placebo-
13 controlled trial. The respective treatment arms are
14 shown in the table.

15 This table shows the interim overall survival
16 results for the three randomized trials. In each
17 trial, there were more deaths in the idelalisib arm
18 compared to the control arm. Despite a limited
19 number of overall survival events, the estimated
20 hazard ratio for these trials showed the potential
21 for an increased risk of death and harm to patients.
22 The reasons for death in the three randomized trials

1 indicate a higher rate of death due to adverse events
2 in the idelalisib arm. The primary adverse events
3 leading to death were infections, as shown in the
4 table.

5 This graph shows the safety results from the
6 three randomized trials. For grade 3 or greater
7 toxicity, serious adverse events and discontinuation,
8 dose reduction, or dose interruption due to an
9 adverse event, the rates were notably higher in the
10 idelalisib arms, as indicated by the blue bars in the
11 graph. Even with treatment modifications due to
12 adverse events, the increased rates of grade 3 or
13 greater toxicity and serious adverse events indicate
14 overall safety concerns with idelalisib in the
15 evaluated populations and uncertainty regarding the
16 idelalisib dosing regimen.

17 The safety results from the three randomized
18 trials demonstrate that the PI3K associated
19 toxicities of grade 3 or greater
20 infection -- neutropenia, diarrhea or colitis,
21 increased ALT or AST, rash, and any grade
22 pneumonitis -- are driving the differences in safety

1 between the treatment arms. Shown in the table, the
2 incidence of any grade pneumonitis or grade 3 or
3 greater PI3K associated toxicities, except
4 neutropenia, are 2 to 3 times higher compared to the
5 control arm.

6 The data from the three idelalisib randomized
7 trials led to an FDA safety alert regarding higher
8 deaths and severe toxicity. A Dear Healthcare
9 Provider Letter was also issued. Additional risk
10 mitigation measures were implemented. The boxed
11 warning for idelalisib and the REMS were updated to
12 include the risk of fatal or serious infections.

13 The safety data from the three randomized
14 trials was included in labeling, and most
15 importantly, the randomized data informed limitations
16 of use for idelalisib. The limitations of use
17 include the frontline treatment of any patient and
18 that idelalisib is not indicated or recommended in
19 combination with rituximab or in combination with
20 bendamustine and rituximab in patients with
21 follicular lymphoma.

22 The three terminated idelalisib trials

1 included the 0124 and 0125 accelerated approval
2 confirmatory trials for idelalisib in indolent
3 non-Hodgkin lymphoma; therefore, a new accelerated
4 approval postmarketing requirement was issued. The
5 new postmarketing requirement was to identify a safe
6 and effective dosing regimen in patients with
7 follicular lymphoma who have exhausted known
8 treatment options.

9 Study 1580 was an ongoing study evaluating
10 different dose levels and different regimens of
11 idelalisib in patients with follicular lymphoma. The
12 1580 study encountered enrollment challenges.
13 Ultimately, because of the inability to provide
14 evidence to verify the clinical benefit of idelalisib
15 in patients with follicular lymphoma and small
16 lymphocytic lymphoma, as required per the accelerated
17 approval regulations, the FL and SLL indications for
18 idelalisib were voluntarily withdrawn in February of
19 this year.

20 Given the toxicity concerns and the impact on
21 overall survival in randomized trials, it is
22 important to look at the dose exploration in the

1 selected dose of idelalisib. The approved dose for
2 idelalisib is 150 milligrams BID or twice daily. As
3 monotherapy, the maximum tolerated dose for
4 idelalisib was not reached.

5 Exposure-response for efficacy plateaued at
6 150 milligrams. There was an exposure-response
7 relationship for safety with higher exposures
8 associated with increased toxicity, and this was
9 coupled with high rates of treatment modifications
10 due to toxicity.

11 In combination, idelalisib 150 milligrams BID
12 was also selected. There was limited dose
13 exploration in combination and, again, there was no
14 exposure-response relationship for efficacy, but
15 there was an exposure-response relationship for
16 safety. Ultimately, lower doses of idelalisib as
17 monotherapy or in combination may have warranted
18 further exploration.

19 The second PI3K inhibitor is copanlisib, an
20 alpha and delta PI3K inhibitor. The issues we will
21 highlight our overall survival concerns in the
22 CHRONOS-3 trial, PI3K associated toxicity, and

1 considerations for the selected dose. In 2017,
2 copanlisib was granted accelerated approval for
3 patients with relapsed follicular lymphoma who have
4 received at least two prior systemic therapies. The
5 approval was based on the CHRONOS-1 trial, a
6 single-arm trial that showed an overall response rate
7 of 59 percent with associated durability.

8 A pooled safety database of 244 patients with
9 non-Hodgkin lymphoma demonstrated a notable toxicity
10 profile. There was a high rate of grade 3 or greater
11 adverse events at 85 percent, serious adverse events
12 at 51 percent, and high rates of treatment
13 modification due to toxicity.

14 Since copanlisib inhibits the PI3K alpha
15 isoform, it is associated with hyperglycemia and
16 hypertension. The incidence of grade 3 or greater
17 hyperglycemia was 34 percent. Grade 3 or greater
18 hyperglycemia represents a blood glucose greater than
19 250 to over 500 milligrams per deciliter with
20 hospitalization indicated. For hypertension, the
21 incidence of grade 3 or greater hypertension was
22 29 percent. Grade 3 or greater hypertension

1 indicates the need for medical intervention.

2 To mitigate risk, the toxicities of
3 infection, hyperglycemia, hypertension, pneumonitis,
4 neutropenia, and rash were included as warnings and
5 precautions because they represented adverse
6 reactions or safety hazards that are serious,
7 clinically significant, and have implications for
8 prescribing decisions or for patient management.

9 In addition, a number of postmarketing
10 requirements were issued for copanlisib. For
11 accelerated approval, a postmarketing requirement was
12 issued to verify the clinical benefit of copanlisib
13 in patients with non-Hodgkin lymphoma based on an
14 ongoing randomized trial. A total of five additional
15 postmarketing requirements for safety were issued as
16 shown.

17 A supplemental new drug application for
18 copanlisib was submitted in May 2021 for copanlisib
19 in combination with rituximab for the treatment of
20 patients with relapsed indolent non-Hodgkin lymphoma.
21 The application was based on the CHRONOS-3 trial, a
22 randomized placebo-controlled trial, evaluating

1 rituximab with or without copanlisib and a primary
2 endpoint of progression-free survival. The
3 population was patients with indolent non-Hodgkin
4 lymphoma that included follicular lymphoma, marginal
5 zone lymphoma, small lymphocytic lymphoma, and
6 Waldenstrom's macroglobulinemia. The majority of
7 patients enrolled had follicular lymphoma and
8 marginal zone lymphoma.

9 Importantly, the target population was those
10 patients that did not require intensive therapy and
11 were defined as either progression free or treatment
12 free for 12 months or more following the last
13 anti-CD20 based therapy, or considered unfit for
14 chemotherapy due to comorbidities and progression
15 free or treatment free for 6 months or more following
16 the last anti-CD20 based therapy.

17 In the intent-to-treat population, the
18 CHRONOS-3 trial showed a statistically significant
19 benefit in progression-free survival in those treated
20 with copanlisib plus rituximab with an approximately
21 8-month improvement in PFS, with an adjusted hazard
22 ratio of 0.52.

1 Here are the interim overall survival data
2 for the CHRONOS-3 trial. The Kaplan-Meier curve on
3 the left is for the intent-to-treat population in
4 indolent non-Hodgkin lymphoma, and the curve on the
5 right is in patients with follicular lymphoma, which
6 represented 60 percent of the trial population.

7 It is notable that the copanlisib arm shows
8 worse overall survival compared to the control arm
9 within approximately the first two years. This is
10 followed by a crossing of the curves yielding the
11 estimated hazard ratio of less than 1. However, this
12 pattern indicates a concern for potential harm early
13 in the treatment setting with copanlisib in patients
14 with indolent non-Hodgkin lymphoma who are suitable
15 for treatment with single-agent rituximab.

16 This table shows the reason for death in the
17 CHRONOS-3 trial. The deaths due to adverse events
18 were higher in the copanlisib arms and encompassed
19 infections, respiratory, and cardiac causes. This
20 graph shows the safety results from the CHRONOS-3
21 trial. For grade 3 or greater toxicity, serious
22 adverse events and discontinuation, dose reduction,

1 or dose interruption due to an adverse event, the
2 rates were notably higher in the copanlisib arm, as
3 indicated by the blue bars in the graph.

4 Safety results from the CHRONOS-3 trial
5 demonstrate that the PI3K associated toxicities of
6 grade 3 or greater hyperglycemia, hypertension,
7 infection, neutropenia, diarrhea or colitis, and any
8 grade pneumonitis are driving the differences in
9 safety between the treatment arms. Shown and
10 indicated in the table, the incidence of any grade
11 pneumonitis, or grade 3 or greater PI3K associated
12 toxicities, except increased ALT or AST, are
13 substantially higher compared to the control arm.

14 Based on ongoing analysis of the CHRONOS-3
15 trial, the supplemental new drug application for
16 copanlisib in combination with rituximab, in patients
17 with indolent non-Hodgkin lymphoma, was voluntarily
18 withdrawn from the FDA in December 2021.

19 Turning to the selected dose of copanlisib,
20 there are some important considerations. The
21 approved dose of copanlisib is 60 milligrams IV,
22 administered weekly for 3 weeks in a 28-day treatment

1 cycle. Notably, the 60-milligram dose was identified
2 as a maximum tolerated dose and there was limited
3 dose finding in patients with hematologic
4 malignancies.

5 The PK and PD data suggested comparable
6 efficacy at a 45-milligram dose and 60-milligram
7 dose. There were high rates of treatment
8 modification due to toxicity at the 60-milligram dose
9 level. Further, the 60-milligram dose was selected
10 to be used in combination, and there was no dose
11 exploration for copanlisib in combination.

12 The third PI3K inhibitor is duvelisib, a
13 delta and gamma PI3K inhibitor. The issues we will
14 highlight our concerning overall survival, PI3K
15 associated toxicity, and dosing considerations.

16 Duvelisib was granted regular approval for
17 patients with relapsed or refractory CLL or SLL after
18 at least two prior therapies in September 2018. The
19 approval was based on the DUO study, a randomized
20 open-label trial that demonstrated a statistically
21 significant benefit in progression-free survival in
22 those treated with duvelisib. The PFS result in the

1 ITT population are shown in the table.

2 The DUO trial enrolled patients who had
3 received at least one prior therapy, but because of
4 safety concerns, the indication was restricted to
5 patients who had received at least two prior
6 therapies. At the time of approval, the overall
7 survival hazard ratio in those patients who had
8 received at least two prior therapies was 0.82,
9 favoring duvelisib.

10 Duvelisib was also granted accelerated
11 approval for patients with relapsed or refractory
12 follicular lymphoma after at least two prior systemic
13 therapies, based on an overall response rate of
14 42 percent with associated durability from the
15 single-arm DYNAMO trial.

16 The pooled safety database of 442 patients
17 with non-Hodgkin lymphoma demonstrated a notable
18 toxicity profile with duvelisib. There were high
19 rates of grade 3 or greater toxicity at 84 percent,
20 serious adverse events at 65 percent, and high rates
21 of treatment modifications due to adverse events.
22 The table on the right shows the grade 3 or greater

1 PI3K associated toxicities and any grade pneumonitis
2 for duvelisib, several of which included fatal and
3 serious events.

4 This graph shows the safety results from the
5 randomized DUO trial in patients with CLL and SLL for
6 death due to adverse events; grade 3 or greater
7 toxicity; serious adverse events and discontinuation;
8 dose reduction; or dose interruption due to an
9 adverse event. The rates were notably higher in the
10 duvelisib arm, as indicated by the blue bars in the
11 graph.

12 The safety results from the DUO trial
13 demonstrates that the PI3K associated toxicity of
14 grade 3 or greater infection, neutropenia, diarrhea
15 or colitis, increased ALT or AST, rash, and any grade
16 pneumonitis are driving the differences in safety
17 between the treatment arms. Shown and indicated in
18 the table, the incidence of any grade pneumonitis or
19 grade 3 or greater PI3K associated toxicities, except
20 neutropenia, are 2 to 3 times or more higher in the
21 duvelisib arm compared to the control arm.

22 To mitigate risk, several measures were

1 included as part of the initial approval for
2 duvelisib. Similar to idelalisib, duvelisib included
3 a boxed warning for infection, diarrhea or colitis,
4 rash, and pneumonitis. Additionally, the toxicity of
5 neutropenia and hepatotoxicity were included as
6 warnings and precautions. A risk evaluation and
7 mitigation strategy was included with the initial
8 approval of duvelisib to ensure its safe and
9 effective use and that its benefits outweigh its
10 risks.

11 Along with risk mitigation, several
12 postmarketing requirements were issued for duvelisib.
13 For accelerated approval, a postmarketing requirement
14 was issued to verify the clinical benefit of
15 duvelisib in patients with relapsed or refractory
16 follicular lymphoma. Additional postmarketing
17 requirements were issued for safety that included
18 characterization of long-term safety across ongoing
19 trials and the final overall survival analysis of the
20 DUO trial.

21 As mentioned, FDA required the final
22 OS analysis of the DUO trial be submitted as a

1 postmarketing requirement. Here is the recent final
2 OS analysis with a median OS follow-up of 63 months.
3 The data for the final OS analysis is currently
4 undergoing FDA review.

5 The sponsor was required to conduct a
6 confirmatory trial to verify the clinical benefit of
7 duvelisib in relapsed or refractory follicular
8 lymphoma. The DUETTO trial, or randomized trial
9 evaluating duvelisib in combination with rituximab,
10 compared to investigators choice of rituximab or
11 R-CVP in patients with follicular lymphoma, was
12 intended to be the confirmatory trial for duvelisib.

13 The trial was never initiated due to
14 feasibility issues and a changing treatment
15 landscape. Because of the inability to provide
16 evidence to verify the clinical benefit of duvelisib
17 in patients with follicular lymphoma, as required per
18 the accelerated approval regulation, the FL
19 indication was voluntarily withdrawn in December
20 2021.

21 For duvelisib, the approved dose is
22 25 milligrams BID. In general, there was limited

1 dose exploration, and 75 milligrams was identified as
2 the MTD. There was no exposure-response relationship
3 for efficacy at 25 milligrams BID, and PD data showed
4 near maximal suppression of the p-AKT biomarker at
5 25 milligrams. There were exposure-response
6 relationships for toxicity between 8 milligrams and
7 75 milligrams, and the 25-milligram dose was
8 associated with high rates of treatment modification
9 due to adverse events.

10 Lastly, the fourth PI3K inhibitor is
11 umbralisib, a delta PI3K inhibitor. Umbralisib was
12 granted accelerated approval for patients with
13 relapsed or refractory follicular lymphoma who have
14 received at least three prior lines of systemic
15 therapy, and for marginal zone lymphoma who have
16 received at least one prior anti-CD20 base regimen.
17 The approvals were based on the UTX-TGR-205 trial, a
18 single-arm, multicohort trial that showed an overall
19 response rate of 43 percent in follicular lymphoma
20 and 49 percent in marginal zone lymphoma for the
21 associated durability.

22 A subsequent supplemental new drug

1 application for umbralisib was submitted in May 2021
2 for patients with CLL and SLL, based on the UNITY-CLL
3 trial, a randomized trial evaluating umbralisib in
4 combination with ublituximab, the U2 regimen, versus
5 obinutuxumab and chlorambucil in patients with CLL.
6 Based on ongoing analyses and concerns with the
7 UNITY-CLL trial, an FDA safety alert was issued in
8 February 2022 for a possible increased risk of death
9 in those treated umbralisib.

10 On April 15th, last week, the supplemental
11 NDA for umbralisib and the BLA for ublituximab for
12 the U2 combination regimen, for the treatment of
13 patients with CLL or SLL, was voluntarily withdrawn
14 from the FDA. This was due to updated overall
15 survival data from the UNITY-CLL trial, which showed
16 an increasing overall survival imbalance in favor of
17 the control arm. At the same time, the sponsor
18 announced the voluntary withdrawal of umbralisib from
19 the U.S. market for the indications of relapsed or
20 refractory follicular lymphoma and marginal zone
21 lymphoma under accelerated approval.

22 With that, I'd like to turn to our discussion

1 of the PI3K class and the topics as shown: a
2 potential detriment in overall survival across
3 multiple randomized trials; the differentiated safety
4 profile of this class and how it impacts
5 tolerability; dosing concerns with the selected
6 doses; and the paradigm of single-arm trials.

7 As a class, multiple randomized trials, as
8 shown in the table, evaluating a PI3K inhibitor as
9 monotherapy or in combination in patients with CLL or
10 indolent non-Hodgkin lymphoma, have shown a decrement
11 or concerning overall survival compared to the
12 control arm. Notably, the overall survival
13 information from these trials is early and represents
14 a low number of events, however, we are observing the
15 same pattern repeated across multiple randomized
16 trials.

17 In addition, the trials show a favorable
18 impact on efficacy endpoints such as progression-free
19 survival or overall response rate, indicating that
20 the overall survival concerns are a primary safety
21 concern. This is reiterated by the fact that a
22 higher rate of death due to adverse events was

1 observed in the PI3K inhibitor arms across these
2 trials.

3 It is also important to consider two
4 additional components, the population of patients and
5 the comparator arms. The patients are those with CLL
6 and indolent non-Hodgkin lymphoma. Diseases that
7 have a long natural history, progression isn't
8 necessarily an indication for treatment and patients
9 have multiple effective treatment options with known
10 efficacy and safety.

11 For the comparator arms, they represent
12 single-agent CD20 monoclonal antibodies or
13 chemoimmunotherapy regimens, each with a favorable
14 and tolerable safety profile, setting up an optimal
15 comparative background to assess toxicity of the
16 investigative PI3K inhibitor arm and its effect on
17 overall survival. PI3K inhibitors have substantial
18 toxicities that can be fatal or serious. The
19 toxicities observed are driven by PI3K associated
20 toxicities related to the mechanism of action of
21 these agents.

22 This table shows the incidence of PI3K

1 associated toxicities for the approved PI3K
2 inhibitors in patients with hematologic malignancies
3 when administered as monotherapy. The incidence of
4 the respective grade 3 or greater toxicities are
5 notable and reiterate the overall safety concerns
6 with this drug class.

7 When looking at the overall safety results
8 from the randomized trials evaluating PI3K
9 inhibitors, each trial has shown higher rates of
10 death due to adverse events, grade 3 or greater
11 toxicity, serious adverse events, and treatment
12 modifications. The differences in safety are driven
13 by the PI3K associated toxicities.

14 Given the toxicity concerns with the PI3K
15 inhibitor class, optimized dosing is warranted. The
16 PI3K inhibitors exhibit a narrow range between an
17 effective and toxic dose. Across the class, there
18 has been limited dose exploration.

19 For each improved PI3K inhibitor, there are
20 exposure-response relationships for safety, primarily
21 for PI3K associated toxicities. Conversely,
22 exposure-response relationships for efficacy have

1 generally not been observed. Despite the need to
2 balance efficacy along with safety and tolerability,
3 there has been insufficient dose exploration as
4 monotherapy and in combination for these agents.

5 As noted, PI3K inhibitors have
6 exposure-response relationships for safety, with
7 higher exposure leading to increased risk for
8 toxicity. These graphs show that with higher PI3K
9 inhibitor exposure, there is an increased risk of
10 diarrhea with idelalisib and umbralisib, as shown in
11 the top left; an increased risk of infection and
12 specifically pneumonia with duvelisib; and an
13 increased risk of hepatotoxicity with duvelisib and
14 umbralisib, as shown on the right. This is in the
15 setting that generally no exposure-response
16 relationships have been observed for efficacy.

17 Dose modification data from the approved PI3K
18 inhibitors suggest tolerability concerns. Because of
19 toxicity, a number of patients discontinue treatment
20 or require dose reductions or interruptions. These
21 graphs show the number of patients that receive each
22 dose per cycle for idelalisib, duvelisib, and

1 copanlisib as monotherapy at the currently
2 recommended doses. As shown, a number of patients
3 require treatment modification early in the treatment
4 course, and many end up discontinuing therapy. This
5 reiterates the need for adequate dose exploration and
6 identification of an optimal dose.

7 In addition, rigorous measurement of
8 patient-reported side effects during dose finding or
9 registrational trials allow for a better
10 understanding of tolerability and toxicity.
11 Information on patient-reported symptomatic adverse
12 events were limited or not completed for the approved
13 PI3K inhibitors.

14 The last issue we would like to highlight
15 today is the paradigm of using single-arm trials to
16 support an assessment of benefit-risk for PI3K
17 inhibitors. Given the toxicity concerns noted, the
18 prior issues discussed for the PI3K inhibitors
19 highlight the limitations of single-arm trials. For
20 most, without a comparator arm, it is challenging to
21 characterize safety. The side effects observed could
22 be due to the drug or to the underlying disease.

1 Additionally, within a single-arm trial, the
2 follow-up is often relatively short and
3 characterizing long-term safety is limited. Second,
4 the assessment of efficacy is less robust because
5 comparison to a historical control or across
6 populations has known limitations. Further, response
7 rate may not predict clinical benefit. And finally,
8 time-to-event endpoint such as progression-free
9 survival and overall survival cannot be accurately
10 interpreted in single-arm trials. In a single-arm
11 trial, it is hard to balance the observed efficacy
12 with toxicity to appreciate the true benefit-risk of
13 the drug in the intended population.

14 As noted, the PI3K inhibitor approvals for
15 patients with indolent non-Hodgkin lymphoma were
16 based on single-arm trials, and were accelerated
17 approvals with a requirement to conduct a
18 confirmatory trial to verify clinical benefit. The
19 FL and SLL indications for idelalisib were
20 voluntarily withdrawn in February of this year due to
21 enrollment challenges in the ongoing confirmatory
22 study. The FL indication for duvelisib was withdrawn

1 in December 2021, as a confirmatory study was never
2 initiated due to feasibility concerns and a changing
3 treatment landscape.

4 Last week, the umbralisib FL and MZL
5 indications were voluntarily withdrawn based on
6 concerns from a randomized trial in a relevant
7 population. The withdrawals of the indications and
8 the reasons for the withdrawals further highlight the
9 limitations of the paradigm of using single-arm
10 trials for development and potential registration of
11 PI3K inhibitors.

12 On the last slide, we discuss the limitations
13 of single-arm trials. We would like to take a moment
14 and highlight the benefits of randomized trials.

15 Randomized trials are the preferred approach
16 to evaluate a treatment and determine whether it
17 provides clinical benefit. The act of randomization
18 balances patient characteristics, both known and
19 unknown factors, between the treatment groups,
20 allowing attribution of any differences in the study
21 outcomes to the treatment being evaluated. This is
22 not possible with any other non-randomized design.

1 The act of randomization can also help reduce bias,
2 including selection bias. Lastly, time-to-event
3 endpoints such as progression-free survival and
4 overall survival can be adequately assessed and
5 interpreted in a randomized trial.

6 The findings in the randomized trials of the
7 PI3K inhibitors highlight the importance of overall
8 survival information. While overall survival is not
9 always feasible as a primary endpoint such as in
10 trials in CLL and indolent non-Hodgkin lymphoma,
11 where progression-free survival is used as a primary
12 endpoint due to the long natural history of the
13 disease and multiple therapeutic options, overall
14 survival is an endpoint that should be analyzed in
15 all randomized trials.

16 The FDA requires overall survival information
17 for any trial that uses progression-free survival as
18 a primary endpoint. Overall survival is an objective
19 measure of clinical benefit and is considered both an
20 efficacy and a safety endpoint. An evaluation of
21 toxicity is embedded in an assessment of overall
22 survival, including the ability to assess short-term

1 and long-term toxicity. The same degree of
2 statistical considerations that apply when overall
3 survival is used as a primary efficacy endpoint do
4 not apply when overall survival is evaluated as a
5 safety endpoint.

6 As mentioned, time-to-event endpoints such as
7 overall survival can only be accurately assessed and
8 interpreted in a randomized trial. Finally, overall
9 survival is an important metric in supporting a
10 benefit-risk determination, especially in the setting
11 of substantial toxicity.

12 To end my presentation today, I'd like to
13 review the evidentiary criteria that must be provided
14 by sponsors to support approval. For safety, there
15 must be sufficient information to determine that the
16 drug is safe for use under the conditions prescribed,
17 recommended, or suggested in labeling. For
18 effectiveness, there must be substantial evidence of
19 effectiveness that allows for the conclusion that the
20 drug will have the effect it purports or is
21 represented to have under the conditions of use
22 prescribed in labeling.

1 Ultimately, it is incumbent upon sponsors to
2 provide evidence to the FDA to support that the drug
3 is safe and effective in the intended population from
4 an adequate and well-controlled trial or trials.

5 In conclusion, the PI3K inhibitor class has
6 substantial toxicity primarily related to the
7 mechanism of action of these agents. The toxicity
8 concerns have translated into a potential detriment
9 in overall survival in multiple randomized trials in
10 patients with CLL or indolent non-Hodgkin lymphoma,
11 which is unprecedented in oncology. The PI3K
12 inhibitors have tolerability concerns with high rates
13 of treatment modification due to toxicity. As a
14 class, dose exploration and optimization has been
15 insufficient, especially given the narrow range
16 between effective and toxic doses.

17 Finally, there has been a reliance on
18 single-arm trials in patients with indolent
19 non-Hodgkin lymphoma, limiting the assessment of
20 efficacy and safety and precluding evaluation of the
21 impact on time-to-event endpoints such as overall
22 survival. Therefore, experience with the PI3K

1 inhibitor class in patients with hematologic
2 malignancies requires a re-examination of PI3K
3 inhibitor development and the approach needed for
4 sponsors to provide adequate evidence to determine
5 safety and efficacy.

6 We would like the committee to discuss the
7 following. Please discuss the observed toxicity of
8 the PI3K inhibitor class and whether randomized data
9 are warranted with an assessment of overall survival
10 to support the evaluation of benefit-risk in patients
11 with hematologic malignancies.

12 The voting question: given the observed
13 toxicities with this class, previous randomized
14 trials with a potential detriment in overall
15 survival, and a narrow range between effective and
16 toxic doses, should future approvals of PI3K
17 inhibitors be supported by randomized data?

18 Thank you. This concludes my presentation.

19 DR. S. CHEN: This is DFO She-Chia Chen. At
20 this time, I would like to invite Dr. Anthony Sung,
21 an ODAC member, to please introduce yourself and say
22 your affiliation into the record.

1 Dr. Sung? Thank you.

2 DR. SUNG: Hi. This is Anthony Sung. I'm an
3 associate professor of medicine in the Division of
4 Hematologic Malignancies and Cellular Therapy at Duke
5 University. Sorry. I had stepped away for a moment
6 during the original introduction period.

7 DR. S. CHEN: Thank you, Dr. Sung.

8 Now I will hand it over to Dr. Garcia.

9 **Clarifying Questions to Presenters**

10 DR. GARCIA: Thank you, Dr. Chen.

11 We will now take clarifying questions for the
12 presenters, the FDA. Please use the raised-hand icon
13 to indicate that you have a question and remember to
14 clear the icon after you have asked your question.
15 When acknowledged, please remember to state your name
16 for the record before you speak and direct your
17 question to a specific presenter, if you can.

18 If you wish for a specific slide to be
19 displayed, please let us know the slide number, if
20 possible. Finally, it would be helpful to
21 acknowledge the end of your question with a thank you
22 and end of your follow-up question with, "That is all

1 for my questions," so we can move on to the next
2 panel member.

3 Dr. Nieva?

4 DR. NIEVA: Thank you. This is Jorge Nieva
5 from USC. My question is for Dr. Richardson, and it
6 relates to the safety of these drugs over time.

7 It seems that the trial design for many of
8 these studies was a treat-until-progression design.
9 However, that is not the way that many indolent
10 lymphomas are treated, where the patients are treated
11 to best response rather than until progression. I
12 guess my question is, are there data on duration of
13 therapy and toxicity? And I guess the follow-up
14 question to that is, are there any guidances from the
15 FDA to sponsors as to whether or not there was a need
16 for a treat-to-progression design? Thank you.

17 DR. GORMLEY: This is Nicole Gormley. This
18 question was directed to Dr. Richardson, and I'll
19 have him start, and then I will add on.

20 DR. RICHARDSON: Hi. This is Nicholas
21 Richardson, FDA. Thank you for that question. I'll
22 try to address them one at a time.

1 One, you had mentioned timing. If you look at
2 the safety of these agents when they are administered
3 as monotherapy, the exposure in the pooled safety
4 database that was submitted as part of the initial
5 evaluation of safety that supported the approval of
6 these agents, we saw that the median exposure was
7 typically 6 months or less. Specifically for
8 idelalisib, it was a median of 6 months; copanlisib
9 was a median of 4.3 months; umbralisib was a median
10 of 5.9 months; and duvelisib was actually a little
11 bit longer with a median of 9 months.

12 So for timing-wise, we had a limited exposure
13 when we initially assessed the safety of these, so
14 all the safety data that you are primarily seeing is
15 really within that first 6-to-9-month window.

16 I will say that as part of the reviews for
17 that, we also looked at the time to onset for a lot
18 of the PI3K associated toxicity, so based on the data
19 that is in the respective labels for these agents,
20 typically -- actually, I can just go through each
21 one.

22 For instance, for grade 3 or greater diarrhea

1 or colitis, the median onset was anywhere from 3 to
2 6 months across the four agents. For hepatotoxicity,
3 that seems to be a signal that occurs typically
4 earlier, so it's typically within the first 2 to
5 3 months of therapy if patients are going to
6 experience hepatotoxicity.

7 Pneumonitis was much more variable, where we
8 saw a median onset typically around 4 months, but
9 patients that had late onset, all the way up to
10 19 months for pneumonitis. For patients that
11 experienced PI3K associated rash, typically it was
12 within 2 to 4 months, based on the data that is in
13 the labels.

14 Those are the main data that we have in
15 regard to timing. Maybe I'll pause there before I
16 get into the design considerations that you had
17 mentioned regarding continuous administration versus
18 something different.

19 DR. NIEVA: Thank you.

20 DR. GARCIA: Thank you.

21 Dr. Cheng, please present your question.

22 DR. CHENG: Great.

1 DR. RICHARDSON: Sorry. This is Nicholas
2 Richardson. Can I address his design comment? Is
3 that ok, Dr. Garcia?

4 DR. GARCIA: Absolutely. Please proceed.

5 DR. RICHARDSON: Nicholas Richardson again,
6 FDA. As far as design, you make a good point. PI3K
7 inhibitors are intended to be given continuously
8 until progression or unacceptable toxicity, and a lot
9 of the randomized trials that are noted utilized
10 comparator arms that were typically fixed-duration
11 therapy.

12 So it's not a design that we encourage
13 because we do acknowledge that there are differences
14 when you are evaluating a continuously administered
15 treatment versus a fixed duration treatment.

16 However, the designs as they were conducted do allow
17 us to have an appropriate comparative assessment of
18 these two types of administration and do adequately
19 quantify the risk. However, it is not a typical
20 design that we encourage, just given that the
21 differences in administration can impact the
22 interpretability of the results of the trial.

1 DR. GORMLEY: This is Nicole Gormley. I'd
2 like to just add on to that and, again, I think this
3 is a really important point.

4 When we talk about dose optimization, we are
5 including optimization of the dose and exposures, but
6 also looking at schedule and administration. And
7 it's quite conceivable that the continued
8 administration until progression undoubtedly
9 contributes to the toxicity that we're observing. So
10 when we're talking about dose optimization, the
11 schedule should also be considered as part of that
12 optimization to ensure that it's ultimately tolerable
13 and adequately safe for patients. Thank you.

14 DR. NIEVA: Thank you.

15 DR. GARCIA: Just to make sure, Dr. Nieva,
16 did these comments address your questions?

17 DR. NIEVA: Yes. Fundamentally, that's the
18 issue here; is the excessive toxicity for these
19 agents built into this design of treatment to
20 progression? But it sounds like the duration was not
21 much longer beyond when maximum response would be
22 achieved, although for some of the agents, it was

1 significantly longer than others.

2 DR. GARCIA: Well, we'll move on.

3 Dr. Cheng?

4 DR. CHENG: Thank you, Dr. Garcia.

5 Jon Cheng, industry rep. This is a question
6 for either Dr. Gormley or Dr. Richardson.

7 My first question, thank you for a very nice
8 weighing out the situation, but in the appendix, the
9 idelalisib study in CLL I think had a overall
10 survival hazard ratio of 0.34 on table 15, I think.
11 So I'm interested in understanding how the FDA is
12 viewing that result, which is a relatively positive
13 overall survival hazard ratio, although I appreciate
14 the numbers might be small in the greater context of
15 a potential toxicity class effect risk, because
16 obviously there was a withdrawal in other
17 indications.

18 My second question is a little bit trying to
19 understand -- I think you make the case for Project
20 Optimus and the importance of understanding
21 exposure-response. My question is, does the FDA have
22 a perspective on this post-optimization? Is it per

1 indication or is it per agent? Because there are a
2 number of indications within hematology, let alone
3 outside of hematology; so how does one approach a
4 project in optimization of the dose.

5 Is it specific to an indication and therefore
6 it has to be defined per indication, or is it per the
7 molecule? It just would be helpful to understand the
8 FDA's perspective.

9 DR. GORMLEY: Thank you for the question, and
10 there are several questions there, one about Project
11 Optimus and dose optimization versus for an
12 indication; versus the molecule; and then thirdly I
13 believe you asked about the idelalisib 0116 trial.

14 If it's ok first, I'd like to ask Dr. Brian
15 Booth to present a little bit about what we're
16 thinking about and what we mean by dose optimization
17 just to ensure that there's a level setting here and
18 we're all on the same plane as to what we're really
19 talking about when we talk about the concepts of dose
20 optimization.

21 Dr. Booth, would you mind presenting a little
22 bit about what we mean by dose optimization and also

1 Project Optimus?

2 DR. BOOTH: Certainly. Good afternoon.

3 Can we please bring up slide 182? Again, my
4 name is Brian Booth. I'm the director of the
5 Division of Cancer Pharmacology I in the Office of
6 Clinical Pharmacology at the FDA. Thank you.

7 With respect to dose selection for oncology,
8 we generally pursued an MTD approach, however, we
9 have many examples of oncology drugs with significant
10 toxicities, including the PI3K inhibitors that
11 require dose modifications or dose interruptions in a
12 post-approval setting. So we need to reconsider our
13 approach to dose selection and think more about dose
14 optimization for oncology drugs, especially with the
15 current therapeutic options that are available such
16 as targeted therapies.

17 To illustrate this thought, the figure on the
18 left depicts the exposure-response curve for
19 cytotoxic chemotherapy. Given the mechanism of
20 action, you can see that the curve for toxicity
21 closely parallels the curve for efficacy. It's not
22 possible to distinguish between the two curves. In

1 this case, it makes sense to pursue the dose based on
2 the MTD concept. This maximizes efficacy, although
3 at the expense of managing toxicity. However, with
4 targeted therapies such as the PI3K or TKIs, the
5 curves on the right are typical of exposure-response
6 relationships that we see.

7 Generally, we see an earlier plateau for
8 efficacy followed by a more gradual later increase in
9 toxicity, so pursuing the MTD approach with these
10 types of drugs doesn't make sense, especially for
11 drugs [sic - patients] with longer survival and
12 require longer periods of continuous drug treatment.
13 In these settings, management of toxicity of the drug
14 has much greater significance.

15 What are the implications of these
16 exposure-response relationships for dose
17 optimization? Generally, we maximize efficacy before
18 toxicity. This especially is true if we use an MTD
19 approach. The efficacy is plateaued, and increasing
20 the dose further does not result in any further
21 improvement in efficacy.

22 In this context, we can say that the

1 exposure-response for efficacy is flat, however, the
2 exposure-response for toxicity is still on the rising
3 portion of the curve and changes in dose can impact
4 the rate and severity of adverse events. In these
5 situations, we may be able to reduce the dose without
6 impacting efficacy significantly while reducing
7 adverse events.

8 Recently, the Oncology Center of Excellence
9 launched Project Optimus. This project was initiated
10 based on the recognition that many oncology drugs
11 require dose adjustment and may lead to suboptimal
12 therapy. The mission is to find doses of oncology
13 drugs that maximize efficacy and tolerability, and
14 one of the specific goals is to leverage the
15 nonclinical and clinical data to better select these
16 doses.

17 In this slide, the traditional approach to
18 dose selection in oncology, based on the finding of
19 the MTD, is depicted. Generally, there is a dose
20 escalation trial designed to identify the dose with
21 DLTs, and subsequently the MTD. The MTD is then used
22 in subsequent registration trials, which may be

1 randomized-controlled trials, but frequently
2 single-arm trials that are part of the accelerated
3 approval pathway.

4 With the MTD approach, the assumption is that
5 higher doses will have higher efficacy which then
6 maximizes the efficacy at the expense of toxicity.
7 Generally, a 3-plus-3 design is used, so there are a
8 limited number of patients to assess the
9 pharmacokinetics and pharmacodynamics, safety, and
10 efficacy at each dose level and the observation
11 period to assess DLTs and toxicities is often too
12 short to obtain useful information on dose
13 modifications, including dose interruptions,
14 reductions, and discontinuations.

15 In contrast, the dose optimization strategies
16 like the one depicted here has a higher chance of
17 identifying the dose with a benefit that outweighs
18 the risk. We began with the same dose escalation
19 design, but with the purpose of better understanding
20 the pharmacokinetics, pharmacodynamics, safety, and
21 efficacy at each dose level. This will often include
22 dose expansion of several cohorts to generate these

1 additional data at promising dose levels. Further,
2 in this paradigm, longer periods of observation are
3 incorporated to assess adverse events, including the
4 onset of delayed toxicities in contrast to the MTD
5 approach.

6 Additionally, with dose optimization, there
7 are some more specific recommendations that should be
8 evaluated in order to better select the dose or doses
9 for development. Consideration should be given to
10 nonclinical data such as in vitro or in vivo receptor
11 occupancy or enzyme inhibition because this provides
12 support that the concentrations of the doses selected
13 are in the right range.

14 In early trials, sufficient PK sampling in a
15 sufficient number of patients is necessary to
16 adequately characterize the pharmacokinetics in order
17 to understand behavior of the drug and any PK
18 limitations such as saturable absorption and to
19 develop exposure-response relationships. This data
20 is also important in identifying exposure-response
21 relationships of biomarker data, as well as with the
22 safety and efficacy data in early trials to better

1 assess optimal doses.

2 These exposure-response relationships can
3 also be used to predict patient outcomes by dose
4 level, which can also aid in selecting doses for
5 development. Unlike the MTD approach, there should
6 be some expansion of several promising dose cohorts
7 with sufficient numbers of patients to better
8 understand and evaluate the PK, safety, and the
9 efficacy.

10 Another important approach that should be
11 considered is to conduct randomized, parallel,
12 dose-response trials, which ensures similarity of
13 patients at each dosage and aids in the
14 interpretation of dose and exposure-response
15 relationships. Finally, another possibility that can
16 be considered is to include multiple doses as part of
17 the registration trial.

18 With respect to dose optimization
19 combinations, this can get quite complicated, but the
20 following are some general recommendations to
21 consider. First, simply taking the approved
22 monotherapy dosage and applying it in combination

1 with another drug is likely to cause excessive
2 toxicities, and some dose exploration is warranted.
3 When combining two new drugs, a thorough
4 understanding of the PK, PD, safety, efficacy, and
5 the exposure-response relationships for safety and
6 efficacy for each drug should have been assessed as
7 described for monotherapy.

8 For two new drugs, there should be dose
9 exploration with different levels of each drug. It
10 may be appropriate to conduct more dose exploration
11 and use combinations with the drug that appears to be
12 more active or more toxic. If a new drug is to be
13 added to an add-on therapy, it may be appropriate to
14 consider some dose exploration with an established
15 regimen in addition to the new drug.

16 Another point that should be considered is
17 that in the combination study, smaller dose increases
18 than those tested in monotherapy, dose escalation
19 trials should be assessed. As with monotherapy,
20 exposure-response curves for safety and efficacy
21 should be evaluated in each drug in the combination.

22 Lastly, occasionally drug-drug interactions

1 occur between two drugs in the combination, which can
2 result in higher exposures than anticipated,
3 particularly in steady state, which may cause
4 unexpected or unwanted toxicity. The dose
5 exploration optimization studies should also provide
6 a provision for assessing DDI liability. Thank you.

7 DR. GORMLEY: Thank you.

8 This is Nicole Gormley. I think we've sort
9 of already touched a little bit on your second
10 question, as well, about whether or not dose
11 optimization is indication specific or if it's
12 molecule specific. Really, it's a little bit of
13 both.

14 For dose optimization, generally, we should
15 always be incorporating information gleaned from
16 earlier stages. What I mean by that is oftentimes
17 we'll start in a broader population initial dose
18 escalation and dose finding, but once specific
19 indications are identified, there may need to be dose
20 escalation and optimization that's conducted
21 separately.

22 For example, a different dose may be optimal

1 for an AML population that may be very distinct from
2 what's needed in an indolent follicular lymphoma
3 population. But hopefully, again, when new
4 indications are explored, information from prior
5 studies should be incorporated into those dose
6 optimization studies. Again, though, if you're
7 looking at follicular lymphoma versus marginal zone,
8 there may not be that many differences needed.

9 The other aspect that comes into this, which
10 Dr. Booth already touched on, is when these products
11 are then studied in combination, the need to, again,
12 really make sure that the various aspects are
13 considered and that separate, really, dose
14 optimization is needed when looking at something as a
15 monotherapy or in combination for a new therapy or a
16 new indication.

17 I'd like to make sure that we address the
18 third question, which was about the 0116 study, so
19 I'll ask Dr. Richardson to comment on that.

20 DR. RICHARDSON: Hi. This is Nicholas
21 Richardson, FDA. Thank you for the question
22 regarding the idelalisib 0116 trial. Just as a

1 refresher, this was a randomized placebo-controlled
2 trial looking at idelalisib plus rituximab versus
3 placebo and rituximab in patients with relapsed CLL,
4 and this is what supported the approval of idelalisib
5 in combination with rituximab for these patients.

6 For the overall survival information, you are
7 correct that the overall survival hazard ratio for
8 this trial showed a favorable effect favoring the
9 idelalisib arm with a hazard ratio of 0.34. As far
10 as the interpretation, the OS information, as you
11 noted, was still a limited number of events and it
12 was very early information.

13 The trial was terminated early following the
14 statistically significant impact on PFS, so we didn't
15 have longer term follow-up to ultimately assess the
16 overall survival information, but when we did
17 evaluate the data that was submitted, it was actually
18 very unclear as far as what factors accounted for the
19 difference in overall survival.

20 Just one note is patients on the placebo arm
21 were able to cross over and receive treatment with
22 idelalisib following progression, which does impact

1 the assessment of overall survival. So it's a little
2 bit unclear as what accounted for the difference in
3 overall survival in this trial, and interestingly,
4 it's a little bit of a standout compared to some of
5 the other trials that we had discussed during the
6 presentation.

7 DR. CHENG: Thank you for that. Yes, that
8 does answer my question. I did want to make an
9 aside. I appreciate the helpfulness in optimizing
10 each dose or each indication combination, however,
11 that does take time and resources, so those are just
12 factors to at least be aware of.

13 DR. GARCIA: Thank you, Dr. Cheng.

14 We'll move on. Dr. Thanarajasingam, please,
15 your question?

16 (No response.)

17 DR. GARCIA: Dr. Thanarajasingam, you may be
18 muted. Please unmute.

19 DR. THANARAJASINGAM: Yes. Sorry about that.
20 I have two questions. The first is about toxicity
21 and tolerability, and the second is unrelated and is
22 about these drugs addressing an unmet need.

1 Dr. Richardson, in answering Dr. Nieva's
2 questions, you had outlined some information about
3 the timing to toxicities. That's very difficult to
4 find published or systematically reported anywhere by
5 sponsors or the FDA. We know from clinical
6 experiences that these PI3-kinase inhibitors have
7 short- and long-term toxicities and some of the
8 immune-related AEs can be delayed. When these agents
9 are chronically administered, even low-grade AEs that
10 are protracted can affect tolerability.

11 So when you're talking about dose
12 optimization, do you think that going forth in drug
13 development -- sort of related to the presentation
14 that was just given that was really helpful -- with
15 this class of agents, do we need longer dose-finding
16 studies, or DLT windows that include patient-reported
17 outcomes, which we know are needed to understand
18 tolerability?

19 I guess my question is, does the FDA have the
20 authority to require these types of studies and also
21 require the reporting of not only high-grade AEs, but
22 the timing of the lower grade AEs that may be

1 impacting tolerability, and require high quality PRO
2 studies assessing multiple domains of tolerability in
3 this setting? It just seems like this is very
4 important complementary information to the
5 traditional survival outcomes with this particular
6 class of drugs.

7 That is the question about toxicity of
8 tolerability, a lot packed in there, but the second
9 one is a bit more straightforward.

10 Of the trials you discussed, we're looking at
11 trials in multiple biologically distinct disease
12 groups, and at times in different lines of therapy,
13 different populations. To address the question of
14 whether these drugs address a high unmet need in CLL,
15 to your knowledge, and I supposed to my other
16 colleagues on this panel, has there been any trial of
17 PI3-kinase inhibitors in patients with
18 double-refractory CLL; that is those who have
19 progressed on a BTK inhibitor and venetoclax? Thank
20 you very much.

21 DR. GORMLEY: Hi. This is Nicole Gormley.
22 I'll ask Dr. Richardson to respond. Thanks.

1 DR. RICHARDSON: Hi. It's Nicholas
2 Richardson, FDA. Thank you for the question. I'll
3 address the tolerability question first.

4 One thing you had mentioned is, I think, in
5 early-phase trials, how do we look at toxicity and
6 tolerability, and given the lessons learned from the
7 PI3K inhibitors, how can we improve that process?
8 And you had mentioned several things.

9 One is, in early-phase trials, a lot of times
10 we identify a dose to carry forward based on an
11 evaluation of dose-limiting toxicity, and you had
12 specifically mentioned the DLT window. Typically, a
13 DLT window is one cycle, and that is reasonable when
14 you typically have a drug that is administered daily,
15 or twice a day; for instance, copanlisib is
16 administered once a week for 3 weeks with one week
17 off, to really get a sense of dose-limiting toxicity.

18 However, that does not incorporate the
19 assessment of later onset toxicity, which we do see
20 with these agents. Specifically some of the immune-
21 mediated toxicities that are grade 3 or greater, we
22 know can have a later onset based on some of the data

1 that we have, even up to 6 to 9 months.

2 One thing that is something that we do to try
3 to work in collaboration with the sponsors is the way
4 that the totality of data is evaluated to really look
5 at these early-phase trials and the data generated
6 regarding safety, PK, PD, exposure-response, and
7 preliminary activity. If we do have data, or if
8 there's previous data with a same in-class agent that
9 indicates that later onset toxicity is a concern,
10 typically we try to at least have a proposed plan of
11 how that will be captured in the assessment of safety
12 in these early-phase trials.

13 So it is a consideration and something that
14 we do try to encourage sponsors to incorporate when
15 they're really looking at all the available safety
16 and PK and PD information when they are selecting a
17 dose to carry forward.

18 Then you had mentioned patient-reported
19 outcomes and whether that should be included, and
20 just give me a second while we pull up a slide.

21 As part of an initiative within the Oncology
22 Center of Excellence, we spend a lot of time and

1 effort really looking into how the patient voice and
2 the patient experience can be incorporated in all
3 aspects of drug development. In early-phase trials,
4 we do recommend and encourage that patient-reported
5 adverse events be incorporated because it does help
6 inform tolerability.

7 You do have the ability to assess these
8 outcomes, even in early-phase trials and even in
9 registrational trials, to really get a sense of
10 tolerability from the patient's standpoint. There's
11 been a lot of work done on the different measures of
12 assessment that can be incorporated in these trials
13 for the particular population that is being evaluated
14 and is something that we encourage in all aspects of
15 drug development.

16 So I'll pause there and just see if any of my
17 other FDA colleagues have any comments before
18 addressing the double-refractory CLL population in
19 PI3K inhibitors.

20 DR. GORMLEY: Yes. Thanks. This is Nicole
21 Gormley. I would just add on, your question was
22 specifically as to whether or not we had the

1 authority to require further or more aggressive dose
2 optimization, or authority to require
3 patient-generated data or patient-reported outcomes,
4 and the short answer is, no, we do not.

5 I will say, though, as was pointed out
6 earlier by Dr. Cheng, dose optimization does require
7 additional resources, and it does require additional
8 time. In our experience, though, and what we're
9 seeing here in the PI3-kinase inhibitors and in other
10 areas is that it's time well spent. The investments
11 that are made in finding the right dose, they improve
12 outcomes for patients, and then it results in a
13 better product in the end that allows us to have
14 confidence in the results from these studies. So
15 while it's not something that's within our authority
16 to require, it's something that we strongly recommend
17 and encourage.

18 Also as well, related to the patient-reported
19 outcome information, it's crucial to have his
20 information about how these products impact patients.
21 It's helpful to collect this information early, but
22 it's also most robust when it's captured in

1 randomized trials. So we encourage sponsors as well
2 to capture this information but, again, we don't have
3 the authority to require it.

4 Thank you. I'll turn it back to
5 Dr. Richardson to address the CLL question.

6 DR. RICHARDSON: Hi. Nicholas Richardson,
7 FDA. Thank you for the question regarding
8 double-refractory patients with CLL who are
9 refractory to a BTK inhibitor or a BCL-2 inhibitor.

10 As you know, BTK and BCL-2 have changed the
11 treatment landscape for CLL. The development, at
12 least of the approved PI3K inhibitors, was really
13 prior to the treatment landscape or in conjunction
14 with the changing treatment landscape.

15 We do have limited data at the time, however,
16 there are ongoing development of products in this
17 class, and there is clinical trials that are
18 currently underway that do allow these patients to
19 enroll, given that they have failed two therapies
20 that have known efficacy and safety, and some trials
21 showing a survival advantage.

22 DR. THANARAJASINGAM: Thank you very much to

1 both of you. I appreciate the responses.

2 DR. GARCIA: Thank you.

3 We'll move on. Dr. Advani, please state your
4 question.

5 DR. ADVANI: Thank you. This is Dr. Advani
6 from Stanford. To anyone from the FDA, most of these
7 were global trials, so were there geographic
8 differences in overall survival? Was it all across
9 the board or was it in underdeveloped areas?

10 The second question is, how many of these
11 were recent, in the last 2 or 3 years, where all
12 these trials are reporting out and related maybe to
13 the pandemic and the supportive care differences in
14 different parts of the world?

15 DR. GORMLEY: Thank you. Thank you very much
16 for the question. I'll have Dr. Richardson answer
17 this question. Thank you.

18 DR. RICHARDSON: Hi, Dr. Advani. Thank you
19 for the question. I will freely admit, I don't think
20 we have a very, at least, data-driven answer for you.
21 We'd have to go back and look. We typically do look,
22 as far as a sensitivity analysis based on region,

1 typically, for the primary outcomes at least in the
2 randomized trials of PFS and OS. Within a single-arm
3 trial, we do in addition look at region based on
4 response rate.

5 As far as specific data points, I'd have to
6 look it up for you, but there have been no overall
7 regional differences that would prompt concern
8 regarding differences for the U.S. versus outside of
9 the U.S., although I will say, given the comparator
10 arms that were chosen, some of the trials did have an
11 imbalance where there was the majority of patients
12 enrolled ex-U.S. and a limited representation of U.S.
13 patients.

14 DR. GORMLEY: This is Nicole Gormley. Just
15 on the first part of the question about the global
16 aspect, just another consideration here is that, as
17 we've highlighted, these analyses that we're talking
18 about are really early, several of them, so it's hard
19 to do additional subanalyses of the overall survival
20 based on those results. When we look at toxicity,
21 though, overall, especially as Dr. Richardson
22 highlighted, we do do analyses to look to see if

1 there are regional differences or things like that
2 and, again, there's nothing that grossly stands out
3 related to that.

4 Perhaps I'll start with the COVID question.
5 Some of these trials were conducted before the COVID
6 pandemic, so were not impacted, and that's the case
7 with the vast majority of these trials. Some of the
8 trials have been conducted more recently with COVID,
9 where COVID could have had an impact.

10 I will highlight, though, that what we're
11 talking about here are randomized trials, so even
12 though COVID may be occurring during the time frame
13 of the clinical trial itself, randomization should
14 control for any imbalances or differences, things
15 like that. We feel confident that this is not just a
16 finding that's related to the underlying COVID
17 pandemic. Then as mentioned, most of these trials
18 were conducted before the COVID pandemic started.

19 Thank you.

20 DR. ADVANI: Thank you.

21 DR. GARCIA: Okay. We'll move on.

22 Dr. Kraft, you've raised your hand?

1 DR. KRAFT: Walter Kraft. I had put my hand
2 down because Dr. Booth had addressed most of the
3 issues, but I will ask specifically about biomarkers.
4 This is about a specific class of medications.

5 For dose optimization is there a biomarker
6 that could serve as a surrogate towards a clinical
7 endpoint that would optimize or help with the dose
8 optimization across these drugs within the single
9 class?

10 DR. GORMLEY: This is Nicole Gormley. I'll
11 start the response and then open it up to other FDA
12 colleagues if there are others that want to chime in.

13 I would say that in terms of biomarkers for
14 endpoints for response assessment, that has not been
15 uniformly developed across the class, and there may
16 be other markers that are helpful for dose
17 optimization that could be looked at, et cetera. But
18 again, to my knowledge, there's nothing that's been
19 uniformly done.

20 DR. KRAFT: Thank you.

21 DR. GORMLEY: Thank you.

22 DR. GARCIA: Great.

1 The next question is Dr. Au.

2 DR. AU: This is Jessie Au from IQSP. I have
3 a question regarding toxicity for this class of
4 agents, especially in the context of dose
5 optimization, and particularly for the combination
6 therapy. I think Dr. Booth and Dr. Richardson
7 probably can help me here.

8 When I look at the data that Dr. Richardson
9 presented today, as well as the briefing materials
10 that were sent to us earlier, what is clear is the
11 single agent's data, idelalisib, when I compare that
12 to the data in the randomized trial, with the
13 combination therapy, there seemed to be a very
14 substantial pharmacokinetic interaction in the sense
15 that the AUC that I saw on those graphs were very,
16 very different, like 200 percent higher in the
17 combination therapy, even though the combination
18 therapy was using a lower dose.

19 Secondly, at the same AUC level, the toxicity
20 to the GI was, again, almost twice as high. And I'm
21 wondering if I'm reading the data correctly, and if I
22 read it correctly, then is this something that's

1 common for this class of agent? Because if it is,
2 then this class of agent may be teaching us a big
3 lesson. And that is that this agent, because of the
4 mechanism of action and many downstream effects, may
5 be actually causing very substantial PK/PD
6 interaction on the host tissue level, which means for
7 this class of agent, it will be a real big problem
8 when they develop combination therapy and not do the
9 dose optimization.

10 So my question is, number one, am I reading
11 the data correctly? Maybe Dr. Booth can help me
12 there. And number two, would that be the same
13 conclusion, and how do we deal with agents such as
14 this? Because it has such a broad mechanism of
15 action, many downstream effect, and when you see
16 PK/PD interaction at such a high level, how do we
17 deal with it, from the dose optimization standpoint?

18 DR. GORMLEY: This is Nicole, Gormley. Thank
19 you again for your question. I will ask Dr. Booth to
20 respond.

21 DR. BOOTH: Hello. Good afternoon again.
22 I'm not quite sure what you're specifically referring

1 to, Dr. Au, from the data, but one of the concerns
2 that I brought earlier, at least sometimes, is that
3 we put this under combination, and we can end up
4 having a drug-drug interaction, and that can raise
5 the exposure of one of the drugs. If you don't look
6 at the situation long enough, you may not be aware of
7 that, and you can end up having exposures that are
8 higher than anticipated that can confound or lead to
9 these unwanted toxicities later in therapy.

10 DR. AU: Yes, I'm sorry. I was referring to
11 figure 4 and figure 9 in the briefing materials. One
12 shows the single agent's PK and the toxicity, and the
13 other one shows the combination therapy. And what
14 caught my eye was the much, much higher AUC in the
15 combination therapy and a much more severe toxicity,
16 even at the same AUC. But I think you're right;
17 there's probably a DDI going on, but I don't know how
18 the PD interaction becomes so severe.

19 I cannot refer you to the slide because
20 figure 9 was not on the slide. Figure 4 was on
21 slide 49 of Dr. Richardson's presentation.

22 (Pause.)

1 DR. AU: On the left panel, right; this is
2 the single-agent plot. So if you go to the
3 combination therapy plot, which is not on here, you
4 will see double the AUC, and not only that, the
5 probability for toxicity, that the same AUC becomes
6 twice as severe, which to me says that's PK/PD
7 interaction on both the effect level and on the
8 kinetic level.

9 DR. BOOTH: Right. I think we're on
10 slide 133.

11 DR. AU: Yes, this is the combination. If
12 you look at the same AUC, about 15,000 units, which
13 is the one on line 49 for single agent -- same
14 agent -- it was 20 percent probability, and now it's
15 about 40 percent probability. So somehow it could be
16 the delayed effect you're talking about, where the
17 immune system is adding up as well, but you're seeing
18 at least an additive effect, I think, with the other
19 combination agents.

20 DR. BOOTH: Yes, potentially.

21 DR. AU: Yes.

22 DR. BOOTH: I would also like to invite

1 Dr. Lian Ma with the pharmacometrics group to see if
2 she has any additional thoughts on these analyses.

3 DR. MA: Hi. This is Lian Ma from
4 pharmacometrics at FDA. Yes, another potential
5 explanation for the difference in the exposure
6 scales, it could be that the exposure metric is
7 slightly different. For the monotherapy plot, the
8 exposure I think is relating to AUC for dose 1, and
9 for this one, it seems to be AUC within 24 hours.

10 So there might be a slight difference in how
11 to derive the AUC metric. But again, I think I agree
12 with your comment that the substantial increase or
13 difference in even the toxicity rate could be
14 partially due to the overlapping toxicity between the
15 two agents in the combination.

16 DR. AU: Thank you. I think what this data
17 basically said to me is it's this class of agents is
18 special, and it will help me on my vote. Thank you
19 very much.

20 DR. MA: Thank you.

21 DR. GARCIA: Thank you both, the FDA and
22 Dr. Au.

1 Moving next to Ms. Nadeem-Baker.

2 MS. NADEEM-BAKER: Thank you. This is
3 Michele Nadeem-Baker, and I have a follow-on question
4 to what Dr. Gita was asking. And that is, I know
5 that in both Dr. Gormley's and Dr. Richardson's
6 presentations, they talked about there being a
7 variety of options for patients of drugs,
8 specifically CLL and SLL patients, to take. But
9 within those, once patients develop resistance or
10 perhaps if they had comorbidities, those drugs are
11 not viable options.

12 I do realize that some but not all mention
13 that a patient to go on one of these needs to have
14 two or more previous treatments, but I don't see that
15 across the board on all of them. Is that something
16 that would be made specific to this class of drugs in
17 the future, and therefore they could still be used as
18 an option when patients run out of others, short of a
19 clinical trial?

20 DR. GORMLEY: Thank you. This is Nicole
21 Gormley again from the FDA. Thank you very much for
22 the question, and I think you bring up a really

1 important point, and this is something that we spend
2 a lot of time thinking about because you are
3 absolutely right; patients do relapse or,
4 unfortunately, sometimes develop refractory disease,
5 and there is a need for additional therapies for
6 these patients.

7 However, when we're in that sort of
8 situation, it's really important that the drugs that
9 patients receive, even if they have exhausted all
10 other therapies, that we know that these are safe.
11 So we would not be in a situation where we would just
12 change the indication for a class of products if we
13 didn't have data in that population.

14 Thank you for displaying this slide. Just to
15 answer your question a little bit about some of us
16 have different lines, et cetera, some of this was
17 just a temporal factor here in that these were
18 approved over various time points, and then different
19 therapies became available. We're talking about
20 quite a time span from the approval of the first one
21 to the last one, so some of these just represent
22 changes in treatment landscape during that time.

1 I guess I would underscore, though, that when
2 we're looking for therapies for patients, we still
3 need to have confidence that they are safe and
4 effective, and we would not adjust an indication
5 without having data to support them. I hope that
6 answers your question.

7 MS. NADEEM-BAKER: Thank you.

8 Thank you. And I have an additional
9 question, which is regarding things like on
10 page [sic - slide] 14 of Dr. Richardson's
11 presentation, when there are things added on PMRs
12 such as regarding a drug such as the Dear Healthcare
13 Provider Letter and boxed warning.

14 Does the FDA provide any oversight on how
15 prevalent these communications are, and is there any
16 education that's also a requirement of the company
17 for the providers and patients to ensure that they
18 are understanding, and they're reading these, and
19 that they actually get to them, and that they're
20 understanding -- because this is more for outside
21 community physicians -- what these mean?

22 DR. GORMLEY: Thank you for your question. I

1 guess I would just start by saying I think you bring
2 up a very important point in terms of, specifically,
3 how is information disseminated to providers.

4 When a drug is approved, we issue and include
5 information -- prescribing information or the label,
6 the PI -- about the safety, risks, et cetera. In
7 certain instances when there are significant safety
8 findings, we will include warnings and precaution or
9 a boxed warning.

10 Several of these products were approved with
11 a REMS, a communication REMS. I can't provide the
12 specifics of what was included within the REMS at
13 this time, but they include information or require
14 that there be communications to prescribers, and
15 there is ongoing assessment of that communication as
16 part of the REMS. Those are actions that can be
17 taken at the initial time of approval.

18 When we were made aware of some of the
19 findings, for example with idelalisib, the FDA issued
20 a safety alert, and that goes through various
21 channels. It's placed on the FDA website, and there
22 are distribution lists to providers and medical

1 professional societies associated with that to make
2 them aware of new safety findings that we become
3 aware of.

4 Often then, sponsors will issue a Dear
5 Healthcare Provider Letter that goes along sometimes
6 with the FDA safety alert or can be issued on their
7 own as well. We think that it's really important
8 that these Dear Healthcare Provider letters are
9 issued broadly to providers and, again, medical
10 societies, et cetera, to ensure that that information
11 is widely communicated and available to providers.

12 We engage with sponsors to ensure that that
13 happens, but it is within the sponsor's purview, so
14 to speak, to figure out, initially anyway, the
15 distribution. If we feel that the distribution is
16 not adequate, we will or can pursue other avenues for
17 FDA then-led [indiscernible] communication. But I
18 think it's of the utmost importance that providers
19 and patients be aware of safety findings with these
20 products.

21 MS. NADEEM-BAKER: Thank you. I have no
22 further questions.

1 DR. GARCIA: Thank you.

2 I know Dr. Diehl, Dr. Cristofanilli, and
3 Dr. Dunleavy have a few questions. Maybe in the
4 interest of time, for us to stay on track, we can
5 actually save those questions for our section after
6 the OPH session.

7 Please, Drs. Diehl, Cristofanilli, and
8 Dunleavy, just bear with me. Hold those questions
9 for a little bit later, and I promise you we're going
10 to start with the three of you during that session.

11 We will now take a quick 10-minute break.
12 Panel members, please remember that there should be
13 no chatting or discussion of the meeting topic with
14 anyone during the break. We will reconvene at
15 2:15 p.m. Eastern Standard time. Thank you.

16 (Whereupon, at 2:04 p.m., a recess was
17 taken.)

18 DR. GARCIA: We're going to go ahead and
19 start again. I would like to state into the record
20 that no one registered to speak for the open public
21 hearing session.

22 We will now take remaining clarifying

1 questions for all the presenters. Please use the
2 raised-hand icon to indicate that you have a question
3 and remember to put your hand down after you have
4 asked your question. Please remember to state your
5 name for the record before you speak and direct your
6 question to a specific presenter, if you can.

7 If you wish for a specific slide to be
8 displayed, please let us know the slide number, if
9 possible. As a gentle reminder, it would be helpful
10 to acknowledge the end of your question with a thank
11 you, and end of your follow-up question with, "That
12 is all for my questions," so we can move on to the
13 next panel member.

14 Just to get back to the three pending
15 questions that we have from the earlier session,
16 we're going to go with Dr. Diehl.

17 If you don't mind, ask your question.

18 DR. DIEHL: Lou Diehl, Duke University.

19 Dr. Booth talked, in dose optimization, about
20 a randomized trial, which immediately begs for me the
21 second question, which is how do you select the lower
22 dose? We know how to select the upper toxic dose,

1 but how would you select the lower dose?

2 The second part of the question, is there
3 enough information in the phase 1 trials that would
4 tell us where toxicity starts and where efficacy
5 starts to actually make a guess at what that second
6 dose would be?

7 DR. GORMLEY: Thank you.

8 DR. DIEHL: I end with the presenters, yes.

9 DR. GORMLEY: Great. Thanks. I'll ask
10 Dr. Booth to start. Thank you.

11 DR. BOOTH: Thank you for the question. I
12 think in my presentation I listed a number of bits of
13 evidence that we can rely on to help make some
14 decisions about what sort of doses to look at. Some
15 of this will be from the interactions with PI3K
16 inhibitors or receptor occupancy, that sort of thing,
17 and give us some indication of whether we're in the
18 right ballpark. We could also use some of the PK/PD
19 information that comes out from some of the early
20 trials.

21 For instance, let's try slide 139, just as an
22 example. This is for duvelisib. They had these

1 models where they were looking at the different doses
2 and concentrations on the p-AKT suppression, and
3 there are some models. You can see very early on
4 that the 25 milligram gave them almost complete
5 suppression of this biomarker, so that helps us to
6 better understand what dosing in vivo is going to be
7 in the right ballpark.

8 Further, you'd have to look at what you see
9 in the early clinical trials in terms of the safety
10 and the efficacy that comes out of that, and evaluate
11 all of that, and make some decisions about what doses
12 you're going to take forward.

13 I would invite others to chime in on that if
14 they have other things to add.

15 DR. DIEHL: I guess the other part of my
16 question is, does that actually correlate with
17 outcome, either toxicity or efficacy?

18 DR. BOOTH: Well, to some extent it certainly
19 does. We know that duvelisib seems to have some
20 activity at that level. We can't use one single
21 piece of the information to make that decision. I
22 think you'd have to look at this in terms of the

1 collection of information and the differences that
2 you've looked at, including the in vitro and the
3 nonclinical [inaudible], as well as the early data
4 from the trials that you get.

5 DR. GORMLEY: This is Nicole Gormley. I
6 think I'd like to just add on. I think we find
7 ourselves in a situation where we have some early
8 data to suggest, for several of these products, that
9 lower doses may have been equally effective. But the
10 issue that we find ourselves with, with across the
11 class, generally, is we don't have lots of data or
12 robust data at the lower doses.

13 I think that's where a randomized
14 dose-finding trial or randomized phase 2 trial could
15 really be helpful, spending a little bit more time at
16 dose optimization where you're collecting more robust
17 data at lower doses compared to the higher doses for
18 both efficacy, safety, tolerability, and patient-
19 reported outcomes in a randomized dose-finding
20 setting, and you'd be well prepared to go into a
21 trial for registration, having confidence in the dose
22 that's been selected.

1 Then we would know definitively whether or
2 not that lower dose, or whatever dose -- the best
3 optimized dose in a randomized trial -- what the
4 efficacy, and safety, and the ultimate clinical
5 benefit would be from that randomized trial that
6 would then be used for registration.

7 DR. DIEHL: I don't want to play the devil's
8 advocate, but would you really know that, if you only
9 selected two doses to use?

10 DR. GORMLEY: I mean, you may never perfectly
11 know that you have the best dose possible, but I
12 think we would know that we would have more
13 information than what we currently have. I think it
14 would have to be informed by the early initial
15 phase 1 dose finding, et cetera. I think you can
16 have inklings then, or more information if you had a
17 randomized phase 2 and, again, selecting the winner,
18 so to speak, but then also gleaning more information.

19 I guess I would just add that dose
20 optimization really can be done throughout a drug
21 development course, looking at the initial phase 1
22 trials, and then randomized phase 2 trials where

1 you're gathering more information. Then even in the
2 phase 3 trials, additional aspects or things can be
3 done to make sure that you have the most optimized
4 dose, making sure that the schedule and other
5 supportive medications, et cetera, are adequate. I
6 think that this can be paid attention to. And you're
7 right; at some point we may never know that we have
8 the best dose, but I think we'd be in a much better
9 situation than what we are now.

10 DR. DIEHL: Yes. Thank you very much. That
11 relieves my mind a little bit on the question.

12 DR. GARCIA: Thank you. We move on to
13 Dr. Cristofanilli, please?

14 DR. CRISTOFANILLI: Yes. Hi. This is
15 Dr. Cristofanilli, Weill Cornell, and I have a
16 question for Dr. Richardson.

17 You did a great presentation and went over
18 toxicity, and that's because you have the studies, as
19 well, that remind us that the overall survival is an
20 objective endpoint. As you know, many times it's not
21 only a matter of toxicity affecting the overall
22 survival, but also the subsequent therapies and the

1 ability to really continue treatment with an
2 efficacious agent. We're saying a disabling disease
3 should have a number of different options.

4 Do you have any information in the randomized
5 studies about the number and the type of therapy that
6 this patient received after they were off the drug or
7 they progressed? Because it seems to me that it may
8 certainly be an issue related to the dose, but it
9 could also be an issue with this class of drugs with
10 a specific target that may affect bone marrow or may
11 affect the liver function at the point that you are
12 unable to continue treatment. When it is a chronic
13 administration, it is also affecting the
14 administration of the other therapies. Thank you.

15 DR. GORMLEY: Hi. This is Nicole Gormley
16 again. I want to make sure I understand and make
17 sure we adequately address your question. Your first
18 question was -- and correct me if I'm wrong -- that
19 it's not necessarily easy to ascertain what the cause
20 of the overall survival findings may be; that it may
21 be due to toxicity or --

22 DR. CRISTOFANILLI: Yes. I think, in

1 general, the overall survival, when you have one
2 intervention, it is due to a number of factors.
3 There's not only one therapy, but the accumulation of
4 therapies that that patient receives, particularly in
5 an indolent disease; so 5 or 6 lines of therapies
6 before or after the agent has been studied. But if
7 you do have an agent that affects their ability to
8 receive subsequent therapies, or affects the efficacy
9 of subsequent therapies, then you have another issue
10 that you have to deal with.

11 Do we know how many therapies and which type
12 of therapy this patient received after they complete
13 the treatment either for toxicity or for progression?

14 DR. GORMLEY: Yes. First, I'll just mention
15 just briefly about your overall survival comments.
16 This is Nicole Gormley again. You are absolutely
17 right in that overall survival is really an
18 assessment of multiple things.

19 Overall survival can be impacted by
20 inadequate dose, perhaps, and too many toxicities.
21 It could be just related to the toxicities of this
22 class. It also could be an impact on patients that

1 result in inability to tolerate subsequent therapy or
2 inability to respond to subsequent therapies, so
3 overall survival really is an assessment of multiple
4 factors and impacts of the drug.

5 I'll ask Dr. Richardson to comment about your
6 other question in terms of subsequent therapy that
7 patients may have received after participating in the
8 clinical trials.

9 Dr. Richardson?

10 DR. RICHARDSON: Yes. Hi. Nicholas
11 Richardson, FDA. As Dr. Gormley mentioned, this is a
12 good question. As we mentioned, just using the PI3K
13 inhibitors as an example, overall survival we look at
14 from an efficacy and a safety standpoint.

15 As we went through in the presentation,
16 nearly all these trials had an advantage in PFS or
17 overall response rate, which really sort of makes us
18 focus on the safety aspect of overall survival. One
19 thing that you mentioned that's really important in
20 the setting that we're talking about
21 today -- patients with CLL or indolent non-Hodgkin
22 lymphoma -- is they are considered indolent diseases,

1 and patients do have the ability to receive multiple
2 subsequent therapies.

3 So when we do look at overall survival to
4 really sort of address the impact of subsequent
5 therapy, we go about it looking at what death events
6 occurred while on therapy or within typically 30 days
7 within the last dose of therapy, so essentially a
8 treatment-emergent event.

9 The other thing that we look at is whether
10 there is a temporal association with the fatal
11 outcome. Just as an example, we know that some of
12 the PI3K inhibitors cause dermatologic toxicity.
13 We've had cases where patients have experienced
14 either a grade 3 or grade 4 rash event, and then that
15 rash subsequently became infected, and ultimately the
16 patient succumbed due to infection. So if there's a
17 temporal relationship, we also consider that when we
18 are evaluating the reasons for death.

19 To address subsequent therapy, we do ask
20 sponsors to provide us information regarding the
21 timing of subsequent therapy, and if available, we do
22 request information on the specific types of

1 subsequent therapies so we can get a sense of just
2 what you mentioned, does the therapy that's being
3 evaluated in a trial either impact their ability to
4 receive subsequent therapy or does it impact the
5 ability to respond to subsequent therapy? As you
6 mentioned, PI3K inhibitors are immune modulators, so
7 there is a concern in regard to subsequent therapies
8 and how patients may respond.

9 So we do evaluate all of that to really try
10 to get a sense and fully characterize the overall
11 survival information from these trials.

12 DR. CRISTOFANILLI: Thank you.

13 DR. GARCIA: Thank you.

14 Dr. Dunleavy, do you have a question?

15 (No response.)

16 DR. GARCIA: Dr Dunleavy, you may be muted
17 still.

18 (No response.)

19 DR. GARCIA: Alright. Let's just move to the
20 next question.

21 Dr. Conaway?

22 DR. CONAWAY: Yes. I'd like to express how

1 important I think Project Optimus is and the need for
2 dose optimization. I think really the central issue
3 here is the choice of dose that went into some of the
4 early studies. At Virginia, we have a center for
5 early-phase trials that's researching, advocating,
6 implementing dose optimization designs much along the
7 lines of what Dr. Booth laid out.

8 I wanted to point out in response to some of
9 the questions, there are statistical designs to
10 handle nearly all of the issues that were discussed
11 today. There are designs for evaluating both safety
12 and efficacy for targeted agents with curves that
13 were depicted by Dr. Booth. There are designs for
14 combinations of agents to explore the surface,
15 multiple combinations of agents. There are designs
16 for late-onset toxicities, heterogeneous groups of
17 patients, and designs that will incorporate
18 patient-reported outcomes, PK data, and biomarkers
19 that would allow you to investigate multiple
20 schedules.

21 I think that the technology really does exist
22 to greatly improve dose optimization, so I think that

1 that's an important thing that we should be aiming
2 for. That's a general comment.

3 My specific comment, in answer to an earlier
4 question, is there's apparently no authority for the
5 FDA to mandate designs, but one concrete suggestion I
6 would like to see is perhaps recommending or
7 requiring operating characteristics of whatever
8 design is used, some measure or some quantification
9 in the degree of uncertainty in the dose that was
10 actually selected, or dose calculations or
11 simulations that might lead to a recommendation to
12 move more than one dose forward.

13 So I think whatever design is proposed, I
14 think that some degree of quantification of the
15 uncertainty in the results of that design would be
16 very useful. Thank you.

17 DR. GARCIA: Thank you, Dr. Conaway.

18 Maybe we'll go back to see Dr. Dunleavy.

19 DR. DUNLEAVY: Yes. Hi. I apologize about
20 that. I also had a question about toxicity. With
21 this class of drugs, when you see immune-related
22 toxicities and immune-related toxicities that have

1 been reported in clinical trials, you're particularly
2 struck by the unpredictability of onset of those
3 toxicities. And the question really is, how are host
4 and disease-specific factors interacting with those
5 dose-specific factors and causing dose toxicities?

6 There have been some observations with this
7 class of drugs that immune-related toxicities are
8 different in different patient populations. They may
9 be different in different age groups. We even talk
10 about follicular lymphoma and CLL. So there are some
11 differences if you look at different classes of
12 agents in those diseases in terms of their biology.

13 I guess the question is, in the data that we
14 have so far, with PI3-kinase inhibitors, are there
15 any hints of these other factors interacting
16 significantly with dose-dependent factors? And if
17 so, is that something that will need to be
18 particularly considered for this class of drug in
19 moving forward towards developing them further?

20 DR. GORMLEY: Hi. This is Nicole Gormley.
21 I'll take a first stab at the question, and then I'll
22 open it up to others on the team.

1 I think you bring up a lot of good questions,
2 and these are things that we definitely looked at, to
3 some degree. I will say we are somewhat limited in
4 that, depending on what factors we're correlating the
5 response with, some of these factors we don't have
6 the most robust information, but this is something
7 that we have seen and characterized.

8 In particular, you noted that patients -- we
9 see a little bit of an atypical factor or phenomena,
10 that a lot of the toxicities that we see tend to
11 sometimes be worse with the PI3-kinase inhibitors in
12 younger patients or those that have received less
13 intensive therapies previously or newly diagnosed.
14 We have a wide data set here, but it is sometimes
15 hard to pin down specifically what those factors are
16 that are contributing the most or had the most impact
17 with this class of product.

18 I'll open it up to others that may want to
19 respond from the FDA team.

20 DR. RICHARDSON: Hi. This is Nicholas
21 Richardson, FDA. Maybe just to reiterate what
22 Dr. Gormley said, you raise an important concept and

1 question with this particular class, and I guess just
2 two comments.

3 One, there is some data out there that
4 supports that patients with untreated or treatment
5 naïve disease and/or those that have received less
6 prior therapy may be at greater risk for immune-
7 mediated toxicities, and we have noted that trend in
8 the PI3K inhibitors, and that trend also exists in
9 other immunotherapy agents.

10 I think it's not clear, but maybe one example
11 is the 0116 trial for idelalisib was in relapsed CLL,
12 looking at idelalisib plus rituximab versus placebo
13 and rituximab. The safety profile and the safety
14 outcomes for that particular trial were different
15 than the 0123 trial, which was evaluating idelalisib
16 in a treatment-naïve CLL population. We have noticed
17 this trend in that regard, in which patients with
18 treatment-naïve disease or less prior therapies do
19 seem to be at increased risk and have numerically
20 higher rates of immune-mediated toxicities.

21 Then again, I think it also goes back to the
22 specific isoforms, the selectivity of the agents, and

1 do they impact one isoform versus others, and how
2 that plays a role in the toxicity that we're seeing.
3 Specifically, with this class of agents, the impact
4 on regulatory T cells really seems to be the primary
5 driver of immune-mediated toxicities.

6 So I think it's a really good question and
7 comment. There is some data out there to support
8 that, and it's something that should be noted and is
9 important as we look at the trials and development of
10 these agents moving forward.

11 DR. DUNLEAVY: Okay. Thank you very much.

12 DR. GARCIA: Thank you. We'll move on to
13 Dr. Chen.

14 Andy, do you have a question?

15 DR. A. CHEN: Thank you. This is Andy Chen,
16 Oregon Health & Science University.

17 With the withdrawal of duvelisib, idelalisib,
18 and umbralisib, the only PI3K inhibitor left for
19 follicular lymphoma is copanlisib. From the
20 CHRONOS-3 data that you presented, although the top
21 line had a ratio for overall survival with slightly
22 less than 1, you did point out that there's increased

1 risk of death from adverse events.

2 So is there thought on the FDA about having
3 this last option in this class to be withdrawn from
4 market? Thank you.

5 DR. GORMLEY: This is Nicole Gormley. I
6 can't specifically comment per se on what future
7 regulatory actions will be. I will note, though,
8 that several of the products do remain on the market.
9 Some of these were indications that were drawn such
10 that the entire product was not removed. For
11 example, idelalisib for the treatment of CLL does
12 remain on the market, and duvelisib is also currently
13 on the market for CLL.

14 I'm not sure if we have a slide that lists
15 everything that's currently on the market, but
16 several of these products do still remain, but these
17 were just indications that were removed. And as you
18 mentioned, copanlisib also remains on the market.

19 I think where our perspective is and I think
20 our concerns are is that for anything that remains on
21 the market, we have to have confidence that these
22 products are safe and effective and that they don't

1 do harm. In general, as was stated earlier in our
2 presentations, the onus is really on the sponsors to
3 prove and provide evidence, substantial evidence,
4 that their products are safe and effective, and that
5 is the guiding principle; not how many are on the
6 market, but is the product safe and effective.
7 That's our regulatory standard. And I hope that
8 answers your question.

9 DR. A. CHEN: Thank you.

10 DR. GARCIA: Jorge Garcia, Seidman Cancer
11 Center. I have a question for Dr. Gormley.

12 There's no doubt that we're interested in
13 patients with diseases with prolonged natural history
14 such as the one that we're reviewing today. Ideally,
15 you want to have an earlier initial endpoint that can
16 capture the overall outcome, and clearly, to me at
17 least, it's not clear that PFS is a valid surrogate
18 endpoint for survival with very limited
19 circumstances.

20 I know in the past the agency has rejected
21 time to treatment failure as a surrogate endpoint,
22 but perhaps -- and my question to you -- since we're

1 talking about a different class of agents with
2 different mechanisms of action, I think that
3 endpoints then can capture both dropouts due to
4 toxicity, dose discontinuation, or dose reductions,
5 and also tumor progression as events may be ideal for
6 this class of patients.

7 Would the agency be open to look at different
8 endpoints for this class of agents in particular?

9 DR. GORMLEY: You brought up a lot of really
10 interesting and important points with the question
11 about what are the appropriate endpoints for this
12 disease space. I'm going to take this in parts, if
13 that's ok.

14 The very first issue is that, in general, we
15 are very amenable at the FDA to discussing with
16 sponsors what the appropriate endpoints are for their
17 trial design and for their specific product. We're
18 also very open to engaging with the broader community
19 and multiple stakeholders, industry, academia,
20 et cetera, on development of new endpoints,
21 especially early endpoints. That's generally what's
22 needed, is development of earlier endpoints that can

1 allow for more expeditious drug development.

2 But when we look at early endpoints and when
3 we use those earlier endpoints, whether or not it's a
4 surrogate or not, or just an earlier intermediate
5 clinical endpoint, we still always also need to look
6 at overall survival, and there are lots of ways that
7 this can be done. But trials ideally with an earlier
8 endpoint of view should continue to be followed for
9 later endpoints such as overall survival such that we
10 can fully assess them, the true clinical benefit from
11 the overall survival assessment.

12 I'd like actually to have our statistical
13 team -- and I'll come back and make perhaps a few
14 additional comments at the end -- comment a little
15 bit as well about how we look at OS, especially also
16 when it's not the primary endpoint.

17 I'd like to have Dr. Rodriguez speak.

18 DR. RODRIGUEZ: Hi. Thank you. My name is
19 Lisa Rodriguez, and I'm the deputy division director
20 for the Division of Biometrics IX at FDA. I will
21 give a brief overview of considerations for overall
22 survival evaluations, in general, and for this PI3K

1 class of drugs.

2 Overall survival is an important metric in
3 supporting a benefit-risk determination, so I will
4 refer to OS for overall survival in these slides.
5 Here, we will overview the regulatory viewpoint of
6 this endpoint first.

7 OS is typically defined as the time from
8 randomization to death from any cause. Randomization
9 tends to balance all factors, known or unknown. OS
10 is a preferred efficacy and safety endpoint in
11 oncology clinical trials. It is an objective measure
12 of clinical benefit and incorporates the impact of
13 toxicity.

14 When prespecified for hypothesis testing, the
15 nonparametric log-rank test has typically been used
16 as a statistical test for evaluating significant
17 differences in survival between treatments. OS is
18 typically summarized via the hazard ratio in
19 comparison with median survival time. According to
20 convention and oncology settings, hazard ratios are
21 calculated such that values exceeding 1 indicate
22 higher risk of death for the investigative treatment

1 group. Confidence intervals for the hazard ratio are
2 evaluated in the absence of or in addition to a
3 statistical test.

4 Other descriptions such as the probability of
5 surviving to set time points can also be useful. A
6 prespecified ITT analysis is preferred for OS,
7 however, we do typically conduct additional
8 sensitivity analyses to evaluate the robustness of
9 the estimates. Finally, OS is an important endpoint
10 because it supports the overall benefit-risk
11 determination for regulatory decisions. It is a
12 safety endpoint, as well as an efficacy endpoint.

13 Here, I would like to outline some general
14 issues associated with evaluating OS data and safety
15 considerations that may be observed. A long natural
16 history of certain diseases has motivated use of
17 primary endpoints other than OS for efficacy claims
18 due to the time needed to observe a sufficient number
19 of events.

20 Statistical analysis plans for trials using
21 progression-free survival or overall response rate as
22 primary endpoints have not always included

1 event-driven, prespecified OS analyses. Because of
2 this, hazard ratio interpretation may be challenging
3 due to patients crossing over to subsequent
4 treatments. There may be potential confounding due
5 to subsequent therapies. There may be a low ratio of
6 events to sample size, and OS is usually considered
7 exploratory in such settings.

8 OS is a safety consideration, in general, and
9 especially in a situation as we observed in the PI3K
10 inhibitor class, where we can observe the following:
11 a pattern of OS hazard ratios greater than 1, that is
12 more than one study; prior information on risk for a
13 product is informative such as adverse events or risk
14 of death; and there are label warnings for the PI3K
15 inhibitor class.

16 OS contributes to the totality of evidence on
17 informed safety, even in the absence of statistical
18 testing. So even with early OS data, the observed
19 results, prior safety information, and observed
20 toxicity profile should adequately rule out harm and
21 help support a conclusion that the products are safe.

22 These are some considerations we use to

1 evaluate the OS data for the PI3K-inhibitor class and
2 may be useful to consider in general. First, it is
3 useful to consider the available survival information
4 based on the plans and available data; even if none
5 of the studies for this class specified a number of
6 events for evaluation of survival data and there were
7 a low number of observed events, as low as 3 percent
8 of the sample size, leading to uncertainty in
9 estimates.

10 The estimated hazard ratios and confidence
11 intervals provided descriptive information for the
12 evaluation of potential safety signals. Point
13 estimates for the hazard ratio exceed 1 across
14 multiple studies. Wide confidence intervals do not
15 adequately rule out potential harm. Death rates by
16 treatment arm provide important summaries as well.
17 As we saw from the main presentations, death rates
18 were higher in investigative treatment arms for most
19 of the studies.

20 In summary regarding evaluation of OS, the
21 confidence intervals for the OS hazard ratio are wide
22 with large upper bounds, however, we can observe that

1 the large upper bounds indicate death hazards may be
2 up to multiple times that in the control arm. There
3 are higher death rates in the investigative treatment
4 groups and higher OS hazard ratio estimates in
5 several studies across the PI3K-inhibitor class.
6 While there are a low number of events and
7 uncertainty in estimates, when potentially harmful OS
8 hazard ratios are observed in multiple studies in
9 this class, a chance finding is questionable.

10 In summary, sponsors have an obligation to
11 demonstrate their products are safe and effective.
12 For the PI3K inhibitors, the observed overall
13 survival estimates, especially considering prior
14 information, observed toxicity profiles, and
15 questionable dose selection, do not adequately rule
16 out harm or support a conclusion that these products
17 are safe. Thank you.

18 DR. GORMLEY: This is Nicole Gormley again.
19 Just to wrap up, I think we are definitely amenable
20 and interested in exploring further endpoints that
21 could be used as earlier time points and that could
22 expedite drug development. I think there are lots of

1 potential candidates out there that could be useful
2 in this disease space, but if those are used, we
3 still really need to have an evaluation of OS because
4 it's so critical to assessing clinical benefit-risks.
5 Thanks.

6 DR. GARCIA: Thank you.

7 I think, Dr. Sung, you had your hand raised.
8 Do you have a question?

9 DR. SUNG: Sorry. It was answered, but my
10 hand was raised.

11 **Questions to the Committee and Discussion**

12 DR. GARCIA: Great. Thank you.

13 The committee will now turn its attention to
14 address the task at hand, the careful consideration
15 of the data before the committee as well as the
16 public comments. We will proceed with the questions
17 to the committee, and panel discussion, and vote. I
18 would like to remind public observers that while this
19 meeting is open for public observation, public
20 attendees may not participate, except at the specific
21 request of the panel.

22 This is question 1 for the committee, and the

1 task at hand right now is for us as a group -- after
2 a pretty robust session of questions and answers and
3 a great presentation by the FDA -- to discuss the
4 observed toxicity of the PI3-kinase inhibitors as a
5 class and whether randomized data are warranted with
6 an assessment of OS to support the evaluation of
7 benefit-risk in patients with hematologic
8 malignancies.

9 Are there any issues or questions about the
10 wording of this question?

11 (No response.)

12 DR. GARCIA: If there are no questions or
13 comments concerning the wording of the question, we
14 will now open the question for discussion. Maybe I
15 can start asking maybe an ignorant question as a drug
16 developer myself, and if I can ask Dr. Conaway or
17 Dr. Coffey to help me understand a bit of this.

18 Dr. Conaway, you mentioned earlier that there
19 are multiple ways that you can do trial designs to
20 address the questions that we all have and we saw
21 today in the presentations. I think one of my
22 concerns is censoring when you are actually doing

1 these clinical trials, especially single-arm studies.

2 What is the true effect when you have a
3 higher dropout rate in the experimental arm, if you
4 will, that either is related to poor drug
5 tolerability, and therefore patients need to actually
6 come off trial before; even if you document
7 progression, how does censoring, or lack thereof,
8 affect PFS and ultimately impact outcomes
9 survival-wise?

10 DR. CONAWAY: My comments were really about
11 the dose optimization phase of this, not the
12 comparative phase, and I'll defer to others,
13 Dr. Coffey or the FDA, for how they handle PFS and OS
14 in the presence of censoring due to tolerability.

15 DR. GORMLEY: Hi. This is Nicole Gormley.
16 I'll ask Dr. Gwise to comment.

17 DR. GWISE: Yes. Hi. This is Thomas Gwise,
18 FDA. In the face of censoring that's motivated by
19 early dropout to the toxicity, PFS could potentially
20 be biased. In reviewing the studies that we get with
21 PFS, we always do some sensitivity analysis to
22 evaluate the amount of bias that could be caused by

1 such informative censoring.

2 DR. GARCIA: Thank you.

3 Does anybody else in the panel have any
4 comments, questions, about what you saw with the
5 toxicity data and how that can impact subsequent
6 therapy, and therefore maybe even outcome?

7 Dr. Nieva?

8 DR. NIEVA: Thank you. Jorge Nieva, USC. I
9 want to clarify that the question at hand really
10 relates to other malignancies outside of chronic
11 hematologic malignancies, that what we're really
12 asking here is whether a PI3-kinase inhibitor, even
13 if it's demonstrated to have a benefit in an area of
14 unmet need -- let's say they were found to be highly
15 effective for glioblastoma or some other tumor for
16 which there is a desperate need for better
17 therapy -- that option would be restricted, and there
18 would not be any accelerated approvals granted in
19 that case, and really, we're moving beyond the
20 question of hematologic malignancy.

21 So I guess my question is, is the issue of
22 whether or not there is an unmet need moot, and is

1 that really what you're asking? Thank you.

2 DR. GORMLEY: Hi. This is Nicole Gormley.
3 Thanks. Our question here really is limited to the
4 use of PI3-kinase inhibitors and heme [ph]
5 malignancies. There is obviously lessons from this
6 discussion that we will take back and think about,
7 and how that applies to other areas within drug
8 development, but the question to the committee here
9 is really -- and the discussion is really -- the
10 PI3-kinase inhibitors and whether randomized data
11 with an assessment of OS is needed for patients with
12 heme malignancies.

13 I think where we're coming from here is that
14 we have this body of experience with these products
15 in this class in multiple indolent lymphomas that
16 have shown significant toxicities and concerns with
17 dosing, and I think that has implications for future
18 exploration of PI3-kinase inhibitors within heme
19 malignancies.

20 Of course, again, yes, we will take back
21 conversations here and apply them or think about how
22 they may apply to other scenarios, other indolent

1 diseases, or PI3-kinase development in other spaces.
2 But the question here today is, based on the
3 experience that we have, what should we be doing with
4 PI3-kinase inhibitors in the future in heme
5 malignancies?

6 One other aspect that I want to point out is
7 you mentioned in your question are we ruling out
8 accelerated approval for all future development,
9 et cetera, and just one other important point to
10 highlight is that accelerated approval does not have
11 to equate with single-arm trials. Accelerated
12 approval can be based on randomized trials still
13 using early endpoints, and that's something that we
14 have encouraged a paradigm for with multiple
15 sponsors; that they consider an initial, for example,
16 randomized trial that's powered for both early
17 efficacy endpoints such as response rate, and powered
18 for later endpoints such as progression-free survival
19 such that one single trial is used.

20 Sponsors would come in with the randomized
21 data for accelerated approval after having met the
22 response rate endpoint, and then both patients will

1 continue to be followed for overall survival or
2 progression-free survival for regular approval once
3 that data is available, but with a single-trial
4 model. So the requirement, or the question asking
5 about randomized data really is separate from the
6 question of accelerated approval or not; so just to
7 highlight those two things.

8 I think those are all the comments. I'll
9 open it up to see if there's anyone else from the FDA
10 that wants to comment.

11 (No response.)

12 DR. GORMLEY: Okay. Hear none. Thank you.

13 DR. NIEVA: Thank you. That was complete.

14 DR. GARCIA: Thank you.

15 Dr. Thanarajasingam?

16 DR. THANARAJASINGAM: Thanks, Dr. Garcia.

17 I just wanted to make some summary comments,
18 putting all of this together from my perspective,
19 both as a lymphoma hematologist and a researcher
20 focused in understanding toxicity and tolerability.

21 My perspective here is that no one is arguing
22 that there's not a clear efficacy signal or that

1 development of this drug class should be halted and
2 they shouldn't be available to our patients. But the
3 question is whether randomized data are warranted as
4 a regulatory strategy here.

5 As a clinician, these aren't drugs that I'm
6 reaching for initially, but they're ones that I would
7 like to have available as options for my patients in
8 later lines, usually after exhausting other available
9 options. But for patients in later lines of therapy,
10 whose life expectancy is most limited by their
11 disease, the benefit-risk assessment is still very
12 crucial, and it's still first do no harm for this
13 precious population of patients.

14 There's a concerning pattern of results here
15 related to PFS benefits that lead to approvals, and
16 potential OS decrements that warrant additional
17 scrutiny in the context of accompanying information
18 about disproportionate toxicity and deaths in the
19 PI3-kinase inhibitor treatment arms of several
20 studies across the board.

21 There's more than one reason why PFS and OS
22 don't track, but the most concerning of them is

1 treatment-related toxicity and deaths, and here the
2 potential for harm can't be ruled out. It's
3 interesting that efforts to gain clarity on these
4 findings have not routinely panned out.

5 The required postmarketing studies to affirm
6 initial accelerated approvals haven't always been
7 conducted, or the drugs are being pulled from
8 consideration, before the public and scientific
9 community can get a clear understanding of why, in
10 scientifically rigorous peer-reviewed publications.
11 And there are also very legitimate concerns that
12 we've discussed about dose optimization, so a lot
13 there.

14 Although the voting question focuses on what
15 type of trial is needed, I also, like Dr. Garcia,
16 think that the type of endpoints we need to look at
17 will be important. We all know that requiring OS
18 endpoints of indolent lymphoid malignancies is
19 impractical, and the intent is not to stifle progress
20 and the speed to which therapies come to our
21 patients. But sole dependence on PFS with these
22 studies is problematic, and we really have to

1 consider some composite outcomes that include PFS,
2 along with predefined safety and tolerability
3 endpoints, which are informed by patient-reported
4 data as well.

5 I feel that given the unique issues discussed
6 today pertaining to this class of agents in further
7 drug development, I would hope that the FDA might be
8 able to require some of those elements, even if
9 that's not standardly the case.

10 So in summary, I do think that to understand
11 the benefit-risk ratio in patients with hematologic
12 malignancies, randomized data, where possible, is
13 very important. I'm very interested in hearing from
14 my other colleagues on the panel. Thank you.

15 DR. GARCIA: Excellent early summary,
16 Dr. Thanarajasingam. Fantastic. Thank you.

17 Let's move on with Dr. Cheng.

18 DR. CHENG: Thanks, Dr. Garcia. I'm Jon
19 Cheng, the industry rep. This is actually a
20 clarification for Dr. Gormley regarding the question.

21 I appreciate the question regarding
22 randomized data. My question is regarding assessment

1 of overall survival as to how the FDA was thinking
2 about assessment, particularly in situations where
3 it's an indolent disease.

4 The discussion is on the assessment of it and
5 how an assessment is done, particularly if it's not a
6 properly powered overall survival endpoint, which
7 often very large studies and obviously intervene
8 treatments can complicate that, so I'm interested in
9 a less powered overall survival data set and how an
10 assessment is potentially interpreted.

11 If I may, just as a secondary, is this driven
12 by the toxicity theme with this class, and would it
13 be relevant to classes that maybe have a safety
14 profile that's distinct and maybe with a less toxic
15 profile?

16 DR. GORMLEY: Hi. This is Nicole Gormley.
17 Thank you for that really good question. We've had a
18 lot of discussion about that here in the agency, and
19 we are in this meeting here talking about this class
20 of products and their development in hematologic
21 malignancies. But I think these discussions really
22 have implications of these data for many indolent

1 diseases, where you can't rely on overall survival as
2 the primary endpoint, and sometimes we may have early
3 overall survival data.

4 I'd like to ask Dr. Rodriguez to speak
5 briefly about how we're thinking about some of these
6 overall survival assessments in going forward.

7 Dr. Rodriguez?

8 DR. RODRIGUEZ: Hi. Thank you.

9 We do have some considerations for future
10 studies. Looking forward to future studies that can
11 evaluate overall survival in randomized studies, we
12 have some statistical points to consider. While FDA
13 has demonstrated commitment to timely approval of
14 safe and effective cancer treatments through the use
15 of earlier endpoints, survival is the paramount
16 objective for intervention.

17 A plan for evaluating OS should be
18 prespecified in the protocol when designing studies,
19 even if not conducting hypothesis testing for
20 efficacy. A prespecified plan will be useful for a
21 safety evaluation of OS in which potential harm to
22 patients may be adequately ruled out based on a

1 prespecified data cut.

2 Sponsors have an obligation to demonstrate
3 their products are safe and effective. Approaches to
4 early assessment and interpretation of OS may be
5 useful, such as adapting trial monitoring approaches
6 that may include utility analyses or Bayesian
7 prediction. These are our primary considerations at
8 this point for future studies.

9 I may also summarize slide 75 again, which
10 covered what we looked at for the PI3K inhibitor
11 class. These were not prespecified; these were
12 exploratory analyses. Based on a low number of
13 events, we did have uncertainty, but we did focus on
14 an estimated hazard ratio and confidence interval to
15 provide descriptive information. We also looked at
16 the death rates by treatment arms to provide
17 important summaries.

18 This is the basis of the overall survival and
19 what we were thinking about in future studies. I
20 hope that answered the question.

21 DR. CHENG: It does, and thank you for that.
22 Are there different potential thoughts based on the

1 toxicity profile or safety profile of an agent or
2 class, or is this kind of universal?

3 DR. RODRIGUEZ: I think these are general
4 thoughts in terms of what we're looking at when we
5 particularly have early OS data and this exploratory
6 analysis, where we did not have a prespecified data
7 cut which would be a prespecified number of events,
8 and we were not conducting hypothesis testing.

9 DR. CHENG: Understood. Thank you very much.

10 DR. GARCIA: Thank you both.

11 Dr. Sung?

12 DR. SUNG: Anthony Sung, Duke University.

13 Just taking a step back, I feel like this discussion
14 is different from a lot of other ODAC meetings, where
15 we meet and we discuss the specific drugs and the
16 safety and efficacy of a specific drug, while during
17 this meeting a lot of data was presented on a number
18 of PI3 kinases, which I agree are problematic.

19 Part of me struggles with the question at
20 hand and implications for the class as a whole, and
21 for future drug developments within this class. What
22 if a new PI3 kinase is developed that has phenomenal

1 single-arm data? Would we still require a randomized
2 trial in that setting?

3 I feel like usually when we meet, we meet on
4 questions and we evaluate the data at hand, which I
5 feel comfortable doing. But I feel like this
6 question is asking us about the future, which is a
7 little bit different. Thank you.

8 DR. GORMLEY: This is Nicole Gormley. And
9 you're absolutely right; this is a very different
10 advisory committee meeting, and it is future thinking
11 and forward-looking. I think, though, where we're
12 coming from is that we want to make sure that our
13 forward-thinking advice that we give to sponsors is
14 grounded in our experience. We want to make sure
15 that we are learning the appropriate lessons from
16 this experience.

17 I mean, in reality, this degree of safety
18 findings that we're seeing in overall survival
19 results across multiple products, across multiple
20 hematologic indications, and all showing this
21 consistent finding of concerning overall survival
22 patterns, albeit early, is really unprecedented. So

1 while we definitely want to expedite drug development
2 and make sure that there are new therapies available
3 to patients as soon as possible, it's imperative, in
4 our view, that we ensure that those products are safe
5 and effective.

6 There are many ways that drug development can
7 be expedited, and it doesn't all require a single-arm
8 trial. I mentioned how randomized trials can also be
9 used for accelerated approval, and we have lots of
10 other mechanisms to expedite drug development and
11 work with sponsors as well to expedite development,
12 and then also processes and programs to expedite our
13 review for really effective therapy.

14 But I think the issue that we're seeing here
15 is that all of these products have activity, but they
16 also have a very concerning safety profile that
17 really has only been able to be fully characterized
18 with the randomized data. So our question is, given,
19 again, this unprecedented body of data that we have
20 thus far, going forward should we require randomized
21 data?

22 DR. SUNG: If I may follow up?

1 DR. GARCIA: Sure, go ahead.

2 DR. SUNG: I guess my question is -- and like
3 I said, I agree with you on all the data of the drugs
4 that have been presented to date and I share your
5 concerns. But my question is, we can't predict the
6 future, and what if in the future another drug in
7 this class comes along that appears to have
8 phenomenal safety data as well as a strong suggestion
9 of efficacy even in phase 1 studies, can that go on
10 to just a single-arm phase 2 study?

11 I feel like it's hard to make -- that's why I
12 find it hard to struggle with this question because
13 it involves the future, and we don't know what's
14 going to happen down the line.

15 DR. GORMLEY: No, we definitely don't know
16 what the future is. I will say, though, that none of
17 us have a crystal ball, but we are also not
18 automatons. If the cure for cancer is developed
19 tomorrow, I think we can find ways to review this
20 expeditiously, to study it expeditiously, et cetera.
21 None of us have a crystal ball, but I think that it's
22 really important that we don't make the same mistakes

1 from yesterday that we learned from our experience.

2 Dr. Pazdur?

3 DR. PAZDUR: This is Dr. Pazdur. Let me just
4 jump in here. I think you're kind of reading into
5 this question in a little more detail than we
6 intended. Of course we would demonstrate the
7 appropriate degree of flexibility depending upon the
8 safety findings, as well as the efficacy findings;
9 that's for sure. But if something similar came along
10 where we saw, even in the early studies, a
11 significant toxicity here, then this should raise
12 concern for us with regards to potential impacts in
13 overall survival.

14 So we're not asking you -- as we said, we
15 realize that we do not have degrees in fortune
16 telling here, so to speak, but given the fact of what
17 we're seeing here -- and here again, this is an
18 unprecedented finding that we saw in oncology here.
19 If we saw something similar as we move forward,
20 should we do randomized studies? And grant it, if we
21 saw something that had phenomenal response rates and
22 that was very non-toxic, then that's a different

1 story here, and we always would demonstrate the
2 appropriate degree of flexibility in this.

3 DR. SUNG: That's something that I agree
4 with. It's just not how the question is stated.

5 DR. PAZDUR: Duly noted, ok?

6 DR. GARCIA: Thank you all.

7 Next, Dr. Coffey?

8 DR. COFFEY: Yes. I just have, I guess, a
9 clarifying question, where I'm having trouble
10 reconciling a couple of the comments that have come
11 up in the discussion, where it's been mentioned
12 multiple times in randomized trials, you can still do
13 accelerated approval. But the emphasis on overall
14 survival, it was referenced several times in the
15 discussion the wide confidence intervals that you
16 have, even in the existing data, and with the
17 approaches that were mentioned with a futility
18 analysis or some type of Bayesian prediction to stop,
19 you're still going to need a decent number of events
20 to do that.

21 So it seems like if that's the direction that
22 future trials are going, that is almost, by

1 definition, pushing you away from accelerated
2 approval because you're going to need longer studies
3 before you would do that. I guess I'm trying to
4 reconcile those two statements in my head, and just
5 wonder if anyone might want to comment on that.

6 DR. GARCIA: If I may interject, we're close
7 to time, and we have still a voting question. So I
8 would ask the group, whoever is left answering
9 questions or comments, to keep them brief and
10 succinct, please.

11 DR. GORMLEY: Yes. This is Nicole.

12 I'm sorry. Could you clarify your question
13 again? Is it the distinction about the randomized
14 data versus -- could you just clarify your question
15 again?

16 DR. COFFEY: My question was more, the plans
17 for future trials with overall survival and
18 randomized, if you're using futility roles or
19 Bayesian prediction to have stopping rules, that's
20 going to take a number of events. With the wide
21 confidence intervals that you report in the studies
22 that have been done, it would be hard to have

1 reasonable stopping rules in those, which seems to
2 push it away from the option of accelerated approval
3 that has been mentioned numerous times and is still
4 on the table.

5 So it almost seems, by definition, if you go
6 in that approach, the accelerated approval is going
7 to be a much harder pathway just because the numbers
8 aren't going to be there. So I'm trying to reconcile
9 how could you do that type --

10 DR. PAZDUR: Not necessarily. Obviously, you
11 would take a look at an accelerated approval, for
12 example, on a response rate, which would require
13 fewer numbers of patients and an overall survival
14 analysis or even a time to progression
15 analysis -- this is Dr. Pazdur -- but you actually
16 have the trial ongoing, so you could actually see
17 these effects later on.

18 Rather than doing these trials sequentially,
19 a randomized trial versus this continuation of a
20 randomized trial in one trial, one actually has the
21 trial ongoing. So we're not saying that we would
22 hold up an accelerated approval necessarily for a

1 survival analysis, but they would be forthcoming
2 relatively rapidly -- one would hope -- certainly not
3 a year or two years later, that's for sure, or many
4 years later.

5 DR. COFFEY: Thanks. That clarifies it.

6 DR. PAZDUR: Here again, the truth of
7 accelerated approval is basically to try to shorten
8 the period of time between the designation of
9 accelerated approval and basically the confirmation
10 of clinical benefit or lack of confirmation of
11 clinical benefit.

12 This single-study approach where we have an
13 accelerated approval on a response rate in earlier
14 clinical endpoint is one that we're really
15 advocating. Then you do have the trial ongoing, so
16 there's none of this issue of, well, it's going to
17 take us several years even to get a randomized trial
18 ongoing in a specific disease, and then you have
19 drugs out there that potentially are harming people
20 with a long period of time on the market. That's
21 what our interest is here.

22 Clear?

1 DR. GARCIA: Thank you, Dr. Pazdur.

2 We have one final comment.

3 Dr. Diehl?

4 (No response.)

5 DR. GARCIA: Dr. Diehl, you may be muted
6 still.

7 DR. DIEHL: Can you define the word
8 "warranted" in question 1? For example, does it mean
9 the defining factor is overall survival, or it will
10 be considered, or it should be pre-planned? What
11 does the word mean, "warranted"?

12 DR. GORMLEY: Yes. This is Nicole Gormley.
13 The "warranted" was, again, referring to the
14 randomized data. Randomized data are warranted, and
15 it should include an assessment of overall survival.
16 We are not suggesting that overall survival be the
17 primary endpoint.

18 Again, as stated earlier, what we're really
19 after, or asking here, is should we have randomized
20 data for initial approval? And again, accelerated
21 approval can still be used with a response rate, but
22 with a randomized trial, and those trials followed

1 for overall survival; then, again, as mentioned
2 earlier, initial looks, early looks, interim futility
3 analyses for overall survival, as well, throughout
4 the trial.

5 But the amount of information available from
6 randomized data is so much more robust, including
7 patient-informed outcomes; a better assessment of
8 safety and attribution of the toxicity observed;
9 tolerability, et cetera, across the board from
10 randomized data as compared to single-arm trials.

11 So given the experience that we've seen thus
12 far, none of these trials evaluated overall survival
13 as the primary endpoint, and that's not what we're
14 suggesting. The question, or the discussion point,
15 is, should randomized data be required for initial
16 approval, and with some looks or assessments of
17 overall survival with that trial?

18 DR. DIEHL: Thank you.

19 DR. GARCIA: Thank you.

20 Before we move on to question 2, which is a
21 voting question, let me just briefly summarize what
22 the panel has reviewed.

1 It does appear that the efficacy signal does
2 exist. We think that these agents do provide
3 efficacy. The biggest issue, obviously, is
4 tolerability, based upon the data that we have seen
5 throughout all these class of agents. The benefit
6 and risk assessment remains critical for drug
7 development in this context, certainly for patients
8 who have a prolonged natural history and certainly in
9 the context of second- and third-line therapy as
10 well.

11 There were also some comments that the
12 patterns for the hazard ratios for survival are
13 concerning, including deaths; the frequent withdrawal
14 of agents, that appeared to be concerns that are
15 legitimate as well; and finally, perhaps the need for
16 us as a group and drug developers in the country to
17 innovate with new endpoints, and certainly include
18 PROs in all these clinical trials.

19 Perhaps for me, there is no doubt that
20 patients want timely access to new cancer therapies,
21 but certainly they have to expect that us as
22 investigators identify those therapies that offer

1 real benefits in their lifetime.

2 We move on to the next question. This is a
3 voting question. Given the observed toxicities with
4 this class, previous randomized trials with a
5 potential detriment in OS, and a narrow range between
6 effective and toxic doses, should future approvals of
7 PI3-kinase inhibitors be supported by randomized
8 data?

9 Dr. She-Chia Chen will provide instructions
10 for the voting.

11 DR. S. CHEN: Hi. This is She-Chia Chen.
12 Question 2 is a voting question. Voting members will
13 use the Adobe Connect platform to submit their votes
14 for this meeting. After the chairperson has read the
15 voting question into the record and all questions and
16 discussions regarding the wording of the vote
17 question are complete, the chairperson will announce
18 that voting will begin.

19 If you are a voting member, you will be moved
20 to a breakout room. A new display will appear where
21 you can submit your vote. There will be no
22 discussion in the breakout room. You should select

1 the radio button that is the round circular button in
2 the window that corresponds to your vote, yes, no, or
3 abstain. You should not leave the "no vote" choice.
4 selected.

5 Please note that you do not need to submit or
6 send your vote. Again, you need only to select the
7 radio button that corresponds to your vote. You will
8 have the opportunity to change your vote until the
9 vote is announced as closed.

10 Once all voting members have selected their
11 vote, I will announce that the vote is closed. Next,
12 the vote results will be displayed on the screen. I
13 will read the vote results from the screen into the
14 record. Hereafter, the chairperson will go down the
15 roster and each voting member will state their name
16 and their vote into the record. You can also state
17 the reason why you voted as you did, if you want to.

18 Are there any questions about the voting
19 process before we begin?

20 (No response.)

21 DR. GARCIA: I'm going to read the question
22 again. Given the observed toxicities with this

1 class, previous randomized trials with a potential
2 detriment in overall survival, and a narrow range
3 between effective and toxic doses, should future
4 approvals of PI3-kinase inhibitors be supported by
5 randomized data?

6 Are there any questions about the wording of
7 the question?

8 (No response.)

9 DR. GARCIA: If there are no questions or
10 comments concerning the wording of the question, we
11 will now begin the voting on question 2.

12 DR. SUNG: Sorry. This is the Anthony Sung
13 from Duke. I just raised my hand.

14 Dr. Pazdur, should we vote on the question as
15 it's written or on the sense of the question as you
16 had previously articulated?

17 DR. PAZDUR: Well, the issue here, I think
18 they're not inconsistent here. We're just asking
19 should randomized studies be done here. We're not
20 asking for overall survival to be the primary
21 endpoint of the trial, but a randomized trial does
22 allow us to at least do a descriptive analysis of

1 that endpoint. That cannot be obtained from a
2 single-arm trial. So I view this question as totally
3 consistent with my previous comment.

4 DR. SUNG: I guess in my mind, the
5 inconsistency is previously you had said if there is
6 phase 1 data that raises some concerns, then we
7 should do a randomized study, which --

8 (Crosstalk.)

9 DR. PAZDUR: Here again, we would have the
10 flexibility here. I think it's well worded here,
11 "given the observed toxicities." This was a toxic
12 regimen. We had potential detriments in overall
13 survival here, so in general, would people support a
14 randomized study?

15 Obviously, there are exceptions to anything,
16 and we would demonstrate the appropriate flexibility,
17 depending on what we saw in these earlier studies
18 here. But given the class of drugs here, if you had
19 to do a development plan over, I think most people
20 would agree -- and not to lead the committee
21 here -- that there should have been randomized
22 studies here, obviously, done earlier.

1 DR. SUNG: And I absolutely agree with these
2 drugs that have been presented, but if a future drug
3 does not show toxicity in phase 1 studies --

4 DR. S. CHEN: This is the DFO, She-Chia.
5 Just a friendly reminder, please vote as the question
6 is --

7 DR. GARCIA: Yes, as the way it is. We
8 can --

9 (Crosstalk.)

10 DR. S. CHEN: -- and we can go ahead and move
11 on. Thank you so much.

12 I'll pass it to you, Dr. Garcia.

13 DR. GARCIA: There is an opportunity after
14 you vote for you to state and comment as to why you
15 voted, so please save those comments for after your
16 vote, if you will. Just vote as the question reads.

17 DR. ADVANI: I have a quick question. Are we
18 voting on the randomized trials of two different
19 doses?

20 DR. GARCIA: The question to me is clear, so
21 I would suggest for you to vote based upon what the
22 question states, and then you can actually think as

1 to why you voted the way that you voted after, and
2 make comments regarding that after you vote.

3 Dr. Chen, do you want to take us to --

4 DR. S. CHEN: Great. Thank you.

5 We will now move voting members to the voting
6 breakout room to vote only. There will be no
7 discussion in the voting breakout room.

8 (Voting.)

9 DR. S. CHEN: The voting has closed and is
10 now complete. Once the results display, I will read
11 the vote results into the record.

12 (Pause.)

13 DR. S. CHEN: The vote results are displayed.
14 I will read the vote totals into the record. There
15 are a total of 16 yeses, zero nos, and 1 abstention.

16 The chairperson will go down the list, and
17 each voting member will state their name and their
18 vote into the record. You can also state the reason
19 why you voted as you did, if you want to.

20 DR. GARCIA: Thank you.

21 We will now go down the list and have
22 everyone who voted to state their name and vote into

1 the record. You may also provide justification for
2 your vote, if you wish to. We will start with
3 Dr. Chen.

4 Andy?

5 DR. A. CHEN: Andy Chen. I voted yes.

6 DR. GARCIA: Thank you.

7 Dr. Sung?

8 DR. SUNG: Anthony Sung. I abstained for
9 partly the reasons that we had already discussed, but
10 to summarize here, I agree that the drugs that have
11 been evaluated in this class and discussed today are
12 highly problematic, and how those evaluations were
13 done has its faults, and randomized studies should
14 have been done in that context.

15 However, I still feel uncomfortable labeling
16 an entire class and requiring further future drugs in
17 that class to be supported by randomized data. I
18 think if the phase 1 data is concerning, then,
19 absolutely, a randomized study should be needed. If
20 the phase 1 data is not concerning, then I don't know
21 if randomized studies should be needed in that case.
22 Thank you.

1 DR. GARCIA: Thank you.

2 Dr. Coffey?

3 DR. COFFEY: Yes. Chris Coffey. Yes.

4 DR. GARCIA: Dr. Lieu?

5 DR. LIEU: This is Chris Lieu. I voted yes.

6 I think when you look at the significant concern that
7 overall survival endpoints were indolent cancers,
8 this can be costly, extremely time-consuming, and I
9 think that the utilization of PFS benefit as an
10 endpoint for regulatory approval is potentially more
11 reasonable with therapies of limited toxicity. In
12 this case, I think it's likely not reasonable in a
13 situation where therapies have significant
14 toxicities.

15 Also, agents with significant toxicities may
16 lead to the potential confounders to progression-free
17 survival, as has been brought up during the course of
18 this call. And also with the available data, you at
19 least hope to see at least a trend towards overall
20 survival, even with subsequent lines of therapy
21 confounding that. But with this class of agents, in
22 some trials the reverse actually appears to be true,

1 further highlighting the concerns that are raised
2 today.

3 The bottom line is if we aren't improving
4 length of life with any therapy but exposing patients
5 to toxicity, and therefore decreasing their quality
6 of life, are we truly helping our patients? And I
7 don't believe so. This concludes my comments. Thank
8 you.

9 DR. GARCIA: Thank you.

10 Mr. Mitchell?

11 MR. MITCHELL: Yes. I'm David Mitchell. I
12 voted yes. I think we need randomized trials to
13 ensure that the products we're addressing today are
14 safe and effective, and don't do harm.

15 DR. GARCIA: Thank you.

16 Dr. Thanarajasingam?

17 DR. THANARAJASINGAM: This is Gita
18 Thanarajasingam. I voted yes, and I don't have
19 anything to add to my prior summary comments.

20 DR. GARCIA: Thank you.

21 Dr. Au?

22 DR. AU: I'm Jessie Au. I voted yes.

1 DR. GARCIA: Thank you. Jorge Garcia. I
2 voted yes. Multiple points.

3 I fully believe, and it's perplexing to me,
4 the lack of appropriate doses, [indiscernible]
5 studies for these agents, especially when they're
6 using combination or existing regimens for those
7 diseases. Certainly, the AE profile and the
8 reduction in dose and drug discontinuation, as
9 presented by the group, is quite perplexing to me as
10 well, and quite toxic in my mind.

11 Also, the trends of survival detriment, it is
12 something, again, that is perplexing to me, and the
13 reality of it is -- I think, clinically, even though
14 I'm not a hematologist myself -- I could probably
15 find it quite difficult to tell a patient that I have
16 an agent that could reduce your tumor volume,
17 possibly delay your progression, but at the price of
18 significant toxicities. And by the way, I can also
19 impact your mortality in a detrimental manner.

20 Dr. Nieva?

21 DR. NIEVA: It's Jorge Nieva from USC. I
22 voted yes. Randomized data are always ideal to show

1 efficacy and safety. Single-arm data is valuable for
2 approval of novel agents and in areas of unmet need.
3 The question, as worded, is specific to PI3-kinase
4 inhibitors for chronic hematologic malignancies.
5 Well, we now have lots of agents on the market, so
6 there is no unmet need where approval based on a
7 single-arm study would have been sufficient.

8 The current safety data justify raising the
9 bar for new agents in the class to show that they are
10 not causing long-term harm. I am concerned, however,
11 that the selection of study endpoints may have
12 impacted toxicity, and indolent lymphoproliferative
13 disorders in particular, it should be noted that the
14 PFS may have biased drug design for longer term drug
15 administration rather than fixed-dose administration,
16 and this long-term administration for these drugs may
17 have been detrimental to patients. Response rate may
18 have been a preferable endpoint in these disorders,
19 and there should be attention to endpoints that
20 reflect clinical benefit with shorter term
21 administration. Thank you.

22 DR. GARCIA: Thank you.

1 Dr. Dunleavy?

2 DR. DUNLEAVY: Hi. Kieron Dunleavy. I voted
3 yes. I have no further comments to add to my
4 previous comments.

5 DR. GARCIA: Thank you.

6 Dr. Diehl?

7 DR. DIEHL: Lou Diehl. The speakers made a
8 compelling case, and the solution that they proposed,
9 a randomized trial, go a long way towards solving the
10 problem. Thank you.

11 DR. GARCIA: Thank you.

12 Dr. Conaway?

13 DR. CONAWAY: Mark Conaway. I voted yes. I
14 think the results presented provide ample evidence
15 that randomized trials should be part of the approval
16 process for PI3K inhibitors. I think they also
17 highlight the need for improvements in the design,
18 conduct, and reporting of the dose exploration
19 trials, leading up to the randomized trial.

20 DR. GARCIA: Thank you.

21 Dr. Cristofanilli?

22 DR. CRISTOFANILLI: Yes. Massimo

1 Cristofanilli. I voted yes. I think it's very clear
2 from the studies that there is a class effect
3 toxicity that we need to keep in mind for the future
4 with regard to dose-finding studies, and a randomized
5 study is the only way to address acute and chronic
6 toxicity to see if these drugs have a future in
7 hematological malignancy.

8 DR. GARCIA: Thank you.

9 Ms. Nadeem-Baker?

10 MS. NADEEM-BAKER: This is Michele
11 Nadeem-Baker. I vote yes for the reasons already
12 stated by Dr. Lieu. And although I don't want to
13 stand in the way of progress with drugs, the evidence
14 presented is very compelling that this class of drugs
15 needs to be supported by randomized data. Thank you.

16 DR. GARCIA: Thank you.

17 Dr. Advani?

18 DR. ADVANI: I voted yes for the reasons
19 already stated by my colleagues on this call, and I
20 was reassured by Dr. Pazdur's comment about having
21 flexibility in case the next agent in this class of
22 drugs come along, which has amazing activity, that

1 there might be some flexibility. Thank you.

2 DR. GARCIA: Thank you.

3 Dr. Madan?

4 DR. MADAN: Yes. This is Ravi Madan. I
5 voted yes. I think the historical experience here
6 really begs for randomized data. I think our
7 patients have an expectation not just to live longer,
8 but to live as long as they can to maintain the
9 quality of life, and randomized data will provide
10 confidence for physicians and patients alike, provide
11 that.

12 DR. GARCIA: Thank you.

13 Dr. Kraft?

14 DR. KRAFT: This is Walter Kraft, and my vote
15 is yes. The well-established power and benefits of
16 randomization and evidence generation strongly
17 outweigh disadvantages of this approach in the case
18 of PI3K inhibitors and in the current therapeutic
19 landscape. Thank you.

20 DR. GARCIA: Thank you all.

21 Again, just to summarize, we have 16 yes and
22 1 abstain. Pretty much everybody who voted yes is

1 talking about the standard for clinical trial designs
2 to be randomized trials, the concerns of survival
3 detriment, the benefit-risk ratio in that patient
4 population with long natural history, and the
5 importance of quality of life as you prolong life for
6 these patients. Certainly, PFS, at least for most of
7 us who voted yes, didn't appear to be an original
8 endpoint for this class of agents.

9 For the person who abstained, the concerns
10 were simple and related to labeling a class of agents
11 that in the future may pan out to be effective and
12 safe for most patients.

13 Before we adjourn, are there any last
14 comments from the FDA?

15 DR. GORMLEY: This is Nicole Gormley. Thank
16 you all for your comments. They're very insightful,
17 and thank you for your time.

18 **Adjournment**

19 DR. GARCIA: Thank you.

20 I would like to thank the FDA for an
21 excellent presentation, the committee members for an
22 active session of questions and a robust discussion

1 despite some questions about the questions at hand,
2 and certainly the FDA and ODAC staff for making this
3 meeting possible.

4 We will now adjourn the meeting. Thank you
5 all, and stay safe.

6 (Whereupon, at 3:45 p.m., the meeting was
7 adjourned.)

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22