

**FOOD AND DRUG ADMINISTRATION (FDA)
Center for Biologics Evaluation and Research (CBER)
72nd Cellular, Tissue, and Gene Therapies Advisory
Committee (CTGTAC) Meeting**

OPEN PUBLIC MEETING

**Web-Conference
Silver Spring, Maryland 20993**

June 9-10, 2022

This transcript appears as received from the commercial transcribing service after inclusion of minor corrections to typographical and factual errors recommended by the DFO.

ATTENDEES

COMMITTEE MEMBERS	
Lisa Butterfield, Ph.D.	Parker Institute for Cancer Immunotherapy
Melanie Ott, Ph. D.	University of California, San Francisco
Tabassum (Taby) Ahsan, Ph.D.	City of Hope
Bernard Fox, Jr., Ph.D.	Providence Portland Medical Center
Nirali N. Shah, M.D., MHSe	National Cancer Institute
Jeannette Yen Lee, Ph.D.	University of Arkansas for Medical Sciences
TEMPORARY VOTING MEMBERS	
Sylvia Anspach, M.S.	Patient Representative - Childhood Cerebral Adrenoleukodystrophy (CCALD)
John Coffin, Ph.D.	Tufts University
Eric Crombez, M.D.	Ultragenyx Gene Therapy
John DiPersio, Ph.D.	Washington University School of Medicine
Amylou Dueck, Ph.D.	Mayo Clinic in Arizona
Victor Gordeuk, M.D.	University of Illinois at Chicago
Randy Hawkins, M.D.	Private Practice
Stephanie Keller, M.D.	Emory University and Children's Healthcare of Atlanta
Jaroslaw Maciejewski, M.D., Ph.D., FACP	Taussig Cancer Center, Cleveland Clinic
Donna Roberts, M.D., M.S.	Medical University of South Carolina
Steven Shapero, B.S.	Patient Representative - Childhood Cerebral Adrenoleukodystrophy (CCALD)
Navdeep Singh, Ph.D.	Patient Representative-Beta Thalassemia
Janelle Trieu, PharmD	Patient Representative - Beta Thalassemia

SPEAKERS, GUEST SPEAKERS, AND RESPONDERS	
Ajay Singh, M.D.	bluebird bio, Inc.
Alexis Thompson, M.D., M.Ph.	Children's Hospital of Philadelphia
Anne-Virginie Eggimann, M.Sc.	bluebird bio, Inc.
Coleman Lindsley, M.D., Ph.D.	Dana Farber Cancer Institute
Dave Williams, MD., Ph.D.	Boston Children's Hospital and Dana Farber Institute
Florian Eichler, M.D.	Massachusetts General Hospital; Harvard Medical School
Gerald Raymond, M.D.	Johns Hopkins Hospital
Ilya Shestopalov, Ph.D.	bluebird bio, Inc.
Jakob Sieker, M.D.	bluebird bio, Inc.
Kelly Kral, M.D.	bluebird bio, Inc.
Laura Demopoulos, M.D.	bluebird bio, Inc.
Richard Colvin, M.D., Ph.D.	bluebird bio, Inc.
Sujit Sheth, M.D.	Weill Cornell Medical Center
Stephen Hughes, Ph.D.	National Cancer Institute
Melissa Bonner, Ph.D.	bluebird bio, Inc.
Robert Hasserjian, M.D.	Massachusetts General Hospital
Tim Olson, MD. Ph.D.	Children's Hospital of Philadelphia
Christine Duncan, M.D.	Dana-Farber/ Boston Children's Hospital; Harvard Medical School
FDA PARTICIPANTS/SPEAKERS	

Peter Marks, M.D., Ph.D.	Food and Drug Administration
Wilson Bryan, M.D.	Food and Drug Administration
Shelby Elenburg, M.D.	Food and Drug Administration
Leah Crisafi, M.D., FASA, CDR, USPHS	Food and Drug Administration
Karl Kasamon, Ph.D.	Food and Drug Administration
FDA ADMINISTRATIVE STAFF	
Prabhakara Atreya, Ph.D.	Food and Drug Administration
Joanne Lipkind, M.S.	Food and Drug Administration
Michael Kawczynski	Food and Drug Administration
Christina Vert, M.S.	Food and Drug Administration
OPEN PUBLIC HEARING SPEAKERS	
Adeline Vanderver, M.D.	Children's Hospital of Philadelphia
Amy Waldman, M.D.	Children's Hospital of Philadelphia
Josh Bonkowsky, M.D., Ph.D.	University of Utah
Benjamin Koch	Patient
Kirsten Finn	Caregiver
Jennifer Mahoney	Caregiver
Miranda McAuliffe	Caregiver
Katherine Mullen, Esq.	Caregiver
Paul Orchard, M.D.	Bethesda Pediatrics at University of Minnesota
Elisa Seeger	ALD Alliance

Jillian Smith	Caregiver
Bradford Zakes	Caregiver
Nina Zeldes, Ph.D.	National Center for Health Research
Janet Kwiatkowski, M.D., M.S.C.E.	Children's Hospital of Philadelphia
David Wiseman, PhD, MRPharmS	Synechion, Inc.
Wanda Sihanath	Patient
Jenine Abruzzo	Patient
Susan Carson, M.S.N., C.P.N.P.	Children's Hospital Los Angeles
Ralph Colasanti	Cooley's Anemia Foundation
Nathan Connell, M.D., M.P.H, F.A.C.P.	Harvard Medical School, Brigham and Women's Falkner Hospital
Sarah Baqueri-Connolly	Patient/Caregiver
Androulla Eleftheriou	Thalassaemia International Federation
Kate Jones	Caregiver
Radhika Sawh	Patient
Jennifer Schneiderman, M.D., M.S.	Northwestern University Feinberg School of Medicine

TABLE OF CONTENTS

OPENING REMARKS: CALL TO ORDER AND WELCOME.....	8
ADMINISTRATIVE REMARKS, ROLL CALL, INTRODUCTION OF COMMITTEE, CONFLICT OF INTEREST STATEMENT	9
FDA OPENING REMARKS.....	23
APPLICANT PRESENTATIONS: INTRODUCTION.....	28
APPLICANT PRESENTATIONS: CEREBRAL ADRENOLEUKODYSTROPHY.....	34
APPLICANT PRESENTATIONS: EFFICACY.....	41
APPLICANT PRESENTATIONS: SAFETY AND BENEFIT/RISK	53
APPLICANT PRESENTATIONS: CLINICAL PERSPECTIVE: THE ROLE OF ELI-CEL.....	65
FDA PRESENTATION: ELIVALDOGENE AUTOTEMCEL (ELI-CEL): BLA 125755 CLINICAL CONSIDERATIONS FOR EFFICACY AND SPECIFIC SAFETY IN EARLY CEREBRAL ADRENOLEUKODYSTROPHY.....	74
CLARIFYING QUESTIONS TO PRESENTERS.....	120
OPEN PUBLIC HEARING.....	148
INVITED SPEAKER PRESENTATION: LENTIVIRAL VECTORS AND INTEGRATION.....	194
APPLICANT PRESENTATION: INTRODUCTION.....	203
APPLICANT PRESENTATION: LENTIVIRAL VECTOR SAFETY (RELEVANT TO BOTH ELI-CEL AND BETI- CEL).....	207
INVITED SPEAKER PRESENTATION: LENTIVIRAL VECTORS AND INTEGRATION (Cont.).....	232
FDA PRESENTATION RISK OF INSERTIONAL ONCOGENESIS WITH ELI-CEL, LOVO-CEL, AND BETI- CEL	250
CLARIFYING QUESTIONS TO PRESENTERS.....	278
QUESTIONS TO THE COMMITTEE/COMMITTEE DISCUSSION/VOTING/MEMBER REMARKS.....	313
CLOSING REMARKS.....	386
DAY 2.....	389
OPENING REMARKS: CALL TO ORDER AND WELCOME	389
ADMINISTRATIVE REMARKS, ROLL CALL, INTRODUCTION OF COMMITTEE, CONFLICT OF INTEREST STATEMENT	390

FDA OPENING REMARKS.....	402
SESSION 4: BETA-THALASSEMIA EFFICACY AND SAFETY APPLICANT PRESENTATION:	
INTRODUCTION	404
APPLICANT PRESENTATION: UNMET MEDICAL NEED	409
APPLICANT PRESENTATION: EFFICACY.....	417
APPLICANT PRESENTATION: SAFETY.....	426
APPLICANT PRESENTATION: BENEFIT-RISK.....	439
FDA PRESENTATION: BETIBEGLOGENE AUTOTEMCEL (BETI-CEL): BLA 125717 CLINICAL CONSIDERATIONS FOR EFFICACY AND SPECIFIC SAFETY IN TRANSFUSION-DEPENDENTB-	
THALASSEMIA.....	446
CLARIFYING QUESTIONS TO PRESENTERS.....	478
OPEN PUBLIC HEARING.....	514
SESSION 5: BETA-THALASSEMIA DISCUSSION AND VOTING	568
<i>CLOSING REMARKS.....</i>	<i>622</i>

1 **OPENING REMARKS: CALL TO ORDER AND WELCOME**

2

3 **MR. MICHAEL KAWCZYNSKI:** Good morning and
4 welcome to the FDA Center for Biologics Evaluation and
5 Research 72nd Meeting of the Cellular, Tissue, and Gene
6 Therapy Advisory Committee. I'm Mike Kawczynski, and I
7 will be helping moderate today's activities. This is a
8 live public meeting, so please note that if we do run
9 into any technical issues, we may have to momentarily
10 pause the meeting in order to address those.

11 But bear with us. This is a meeting where we
12 even have international partnerships and participants,
13 so we appreciate you joining us today. With that being
14 said, I want to get this meeting started and hand it
15 off to my colleague and DFO, Christina Vert, and our
16 chair for today, Dr. Lisa Butterfield. Dr.
17 Butterfield, are you ready kick us off?

18 **DR. LISA BUTTERFIELD:** All right. Good
19 morning, everyone. Thank you, Michael. I'm Lisa
20 Butterfield. I'll be your chair today, and I'd like to
21 welcome all of the members of the Committee, our

1 regulatory colleagues, the participants we have for
2 today as well as tomorrow, and the public who are
3 viewing remotely.

4 Just a moment of housekeeping, I'd like to
5 remind everyone during the Q&A to use the "Raised Hand"
6 function. That's how I'll see you and how I'll be able
7 to call on you so that we can have a robust discussion
8 of the important matters of the day. With that, for
9 the roll call, I'd like to hand it off to our
10 designated federal officer today, Ms. Christina Vert,
11 please.

12

13 **ADMINISTRATIVE REMARKS, ROLL CALL, INTRODUCTION OF**
14 **COMMITTEE, CONFLICT OF INTEREST STATEMENT**

15

16 **MS. CHRISTINA VERT:** Thank you, Dr.
17 Butterfield. Good morning, everyone. This is
18 Christina Vert, and it is my great honor to serve as
19 the designated federal officer, DFO, for today's 72nd
20 Cellular, Tissue, and Gene Therapies Advisory Committee
21 Meeting. On behalf of the FDA, the Center for

1 Biologics Evaluation and Research, and the Committee, I
2 am happy to welcome everyone for today's virtual
3 meeting.

4 Today the Committee will meet in open session
5 to discuss the two biologic licensing applications, BLA
6 125755 and BLA 125717 from bluebird bio, Inc. Today's
7 meeting and the topic were announced in the Federal
8 Register Notice that was published on April 14, 2022.

9 I would now like to introduce and acknowledge the
10 excellent contributions of the staff in the Division of
11 Scientific Advisors and Consultants, including the
12 director, Dr. Prabha Atreya, who is my backup and co-
13 DFO for this meeting.

14 Other staff are Dr. Sussan Paydar, Ms. Tonica
15 Burke, Ms. Joanne Lipkind, Ms. Karen Thomas, who have
16 provided excellent administrative support in preparing
17 this meeting. I would also like to thank Mr. Mike
18 Kawczynski in facilitating the meeting today and his
19 many hours of work preparing for the meeting. Also,
20 our sincere gratitude goes to many CBER and FDA staff
21 working hard behind the scenes trying to insure that

1 today's virtual meeting will also be a successful one.

2 Please direct any press and media questions
3 for today's meeting to FDAs Office of Media Affairs at
4 fdaoma@fda.hhs.gov. The transcriptionist for today's
5 meeting is Ms. Ora Giles. We will begin today's
6 meeting by taking a formal roll call of the Committee
7 members and temporary voting members.

8 When it is your turn, please make sure your
9 video camera is on and you are unmuted and state your
10 first late name, organization, expertise of the roll,
11 and when finished, you can turn your camera off so we
12 can proceed to the next person. Please see the member
13 roster slides in which we will begin with the chair.
14 Dr. Butterfield, please go ahead and introduce
15 yourself.

16 **DR. LISA BUTTERFIELD:** Thank you. Good
17 morning again. My name is Lisa Butterfield. I am the
18 vice president of research and development at the
19 Parker Institute for Cancer Immunotherapy as well as an
20 adjunct professor in microbiology and immunology at
21 University of California, San Francisco. My expertise

1 is in tumor immunology, cancer immunotherapy with
2 vaccines, cell therapies and biomarkers.

3 **MS. CHRISTINA VERT:** Thank you. We next have
4 Dr. Ahsan, but I don't think she's present at the
5 moment. So we'll move on. Thank you, Dr. Fox. Go
6 ahead.

7 **DR. BERNARD FOX:** My name is Bernard Fox. I'm
8 the Harder Family Chair for Cancer Research at the
9 Earle A. Chiles Research Institute, which is a division
10 of the Providence Cancer Institute. My expertise is in
11 preclinical and clinical translational work in cancer
12 immunotherapy with a focus on cancer vaccines and
13 adoptive immunotherapy, as well as biomarkers.

14 **MS. CHRISTINA VERT:** Thank you. Dr. Lee.

15 **DR. JEANNETTE LEE:** Good morning. My name is
16 Jeannette Lee. I'm a professor of biostatistics and a
17 member of the Windsor P. Rockefeller Cancer Institute
18 at the University of Arkansas for Medical Sciences. My
19 area is biostatistics in clinical trials. Thank you.

20 **MS. CHRISTINA VERT:** Thank you. Dr. Ott.

21 **DR. MELANIE OTT:** Good morning. My name is

1 Melanie Ott. I'm the director of the Gladstone
2 Institute of Virology at the University of California,
3 San Francisco. I'm also a professor of medicine at
4 UCSF. My expertise is molecular virology, especially
5 in the area of HIV and antiviral vectors.

6 **MS. CHRISTINA VERT:** Thank you. Dr. Shah.

7 **DR. NIRALI SHAH:** Hi, this is Nirali Shah. I
8 work at the pediatric oncology branch in the National
9 Cancer Institute, and I focus on CAR T-cell therapy
10 primarily in hematologic malignancies in children and
11 young adults.

12 **MS. CHRISTINA VERT:** Thank you. Okay. Now we
13 will go on to our temporary voting members. Ms.
14 Anspach.

15 **MS. SYLVIA ANSPACH:** Hi, my name is Sylvia
16 Anspach, and I am the parent representative for
17 cerebral adrenoleukodystrophy.

18 **MS. CHRISTINA VERT:** Thank you. Dr. Coffin.

19 **DR. JOHN COFFIN:** My name's John Coffin. I am
20 professor of molecular biology and microbiology Tufts
21 University in Boston, Massachusetts. My expertise is

1 in basic retrovirology, retrovirus replication, and HIV
2 pathic replication and pathogenesis, particularly
3 interested in the integration mechanism consequences
4 and specificity.

5 **MS. CHRISTINA VERT:** Thank you. Dr. Crombez.

6 **DR. ERIC CROMBEZ:** Good morning. I'm Eric
7 Crombez. I'm the chief medical officer for gene
8 therapy and inborn errors of metabolism at Ultragenyx.
9 My training is in pediatric clinical genetics and
10 biochemical genetics, and I am serving as the industry
11 representative.

12 **MS. CHRISTINA VERT:** Thank you. Dr. DiPersio.

13 **DR. JOHN DIPERSIO:** Hi, I'm John DiPersio, and
14 I'm the chief of the division of oncology and deputy
15 director of the Siteman Cancer Center at Washington
16 University School of Medicine. And I focus on AML
17 genomics and cellular therapies, including CAR Ts
18 directed towards hematologic malignancies.

19 **MS. CHRISTINA VERT:** Thank you. Dr. Dueck.

20 **DR. AMYLOU DUECK:** Hi, I'm Amylou Dueck. I'm
21 an associate professor of biostatistics at Mayo Clinic

1 in Scottsdale, Arizona. And my expertise is in
2 biostatistics in clinical trials.

3 **MS. CHRISTINA VERT:** Thank you. Dr. Hawkins.

4 I mean, Dr. Gordeuk, go ahead. Sorry.

5 **DR. VICTOR GORDEUK:** My name's Victor Gordeuk.
6 I am professor of medicine at the University of
7 Illinois at Chicago. I'm director of the Sickle Cell
8 Center here. My expertise is in clinical and
9 translational research in sickle cell disease and other
10 benign hematological conditions.

11 **MS. CHRISTINA VERT:** Thank you. Dr. Hawkins,
12 go ahead.

13 **DR. RANDY HAWKINS:** Yes, good morning. Randy
14 Hawkins, I'm a physician in private practice and at
15 Charles University. My specialty is internal medicine
16 and pulmonary critical care medicine. I'm the
17 alternative consumer representative for these two
18 meetings. Thank you.

19 **MS. CHRISTINA VERT:** Thank you. Dr. Stephanie
20 Keller.

21 **DR. STEPHANIE KELLER:** Hi, I'm Dr. Stephanie

1 Keller. I'm a pediatric neurologist here at Children's
2 Healthcare of Atlanta in Atlanta, Georgia. I'm also an
3 associate professor of pediatrics and neurology for
4 Emory University. And I'm the medical director of
5 neurogenetics and the director of our leukodystrophy
6 care center in Atlanta.

7 **MS. CHRISTINA VERT:** Thank you. Dr. Jaroslaw
8 Maciejewski.

9 **DR. JAROSLAW MACIEJEWSKI:** This is Dr. M. I
10 am attending physician and hematologist at the
11 Cleveland Clinical Foundation Taussig Cancer Center. I
12 run a laboratory interested in bone marrow failure and
13 leukemias, including immunogenetics and the genetics of
14 myeloid neoplasia.

15 **MS. CHRISTINA VERT:** Thank you. Dr. Donna
16 Roberts.

17 **DR. DONNA ROBERTS:** Hi, I'm a professor of
18 neuroradiology at the Medical University of South
19 Carolina.

20 **MS. CHRISTINA VERT:** Thank you. Mr. Steven
21 Shapero.

1 **MR. STEVEN SHAPERO:** Yes, hi, I'm Steven
2 Shapero. And I live in Montana. And I'm the patient
3 representative, and ALD runs in my family and has
4 directly impacted my brother and his family and myself
5 and my family.

6 **MS. CHRISTINA VERT:** Thank you. Dr. Singh.

7 **DR. NAVDEEP SINGH:** Hello, my name is Navdeep
8 Singh. I'm an assistant professor at the University of
9 Toledo at the College of Nursing. And I'm also a
10 patient representative. I have beta thalassemia; I was
11 diagnosed when I was nine months old.

12 **MS. CHRISTINA VERT:** Thank you. Dr. Janelle
13 Trieu.

14 **DR. JANELLE TRIEU:** Hi, my name is Janelle.
15 I'm a clinical pharmacist for specialty home infusion.
16 And I am also a patient representative born with beta
17 thalassemia.

18 **MS. CHRISTINA VERT:** Thank you. Okay. There
19 are a total of 19 participants, 15 voting and 4 non-
20 voting members today. And I thank you all for your
21 introductions. I would also like to acknowledge CBER

1 leadership. Dr. Bryan is present, and Dr. Marks may be
2 joining us in the meeting at another time. I will now
3 proceed to reading the conflict of interest statement
4 for the public record. Thank you.

5 The Food and Drug Administration is convening
6 virtually today, June 9, 2022, the 72nd Meeting of the
7 Cellular Tissue and Gene Therapies Advisory Committee,
8 CTGTAC, under the authority of the Federal Advisory
9 Committee Act, FACA, of 1972. Dr. Lisa Butterfield is
10 serving as the chair for today's meeting.

11 The morning session of June 9, 2022, open
12 session will include presentations of the effectiveness
13 and product-specific safety results from the clinical
14 trials in BLA 125755 for elivaldogene autotemcel to
15 treat patients younger than 18 years of age with early
16 cerebral adrenoleukodystrophy who do not have an
17 available and willing antigen match sibling
18 hematopoietic stem cell donor.

19 The afternoon session will include
20 presentations of safety concerns relevant to both
21 products described in BLA 125755 and also BLA 125717

1 for betibeglogene autotemcel to treat patients with
2 beta thalassemia who require regular blood cell
3 transfusions, followed by Committee discussion and
4 voting on BLA 125755. The topic is determined to be a
5 particular matter involving specific parties. With the
6 exception of industry representative members, all
7 regular and temporary voting members of the CTGTAC are
8 appointed special government employees, SGEs, or
9 regular government employees, RGEs, from other agencies
10 and are subject to federal conflict of interest laws
11 and regulations.

12 The following information on the status of
13 this Committee's compliance with federal ethics and
14 conflict of interest laws including, but not limited
15 to, 18 USC Section 208 is being provided to
16 participants in today's meeting and to the public.
17 Related to the discussion at this meeting, all members,
18 RGE and SGE consultants of this Committee have been
19 screened for potential financial conflicts of interest
20 of their own, as well as those imputed to them,
21 including those of their spouse and minor child and,

1 for the purpose of 18 US Code 208, their employers.

2 These interests may include investments,
3 consulting, expert witness testimony, contracts and
4 grants, cooperative research and development
5 agreements, CRADAs, teaching, speaking, writing,
6 patents and royalties, and primary employment. They
7 may include interests that are current or under
8 negotiation. FDA has determined that all members of
9 this Advisory Committee, both regular and temporary
10 voting members, are in compliance with federal ethics
11 and conflict of interest laws.

12 Under 18 USC Section 208, Congress has
13 authorized the FDA to grant waivers to special
14 government employees and regular government employees
15 who have financial conflicts of interest when it is
16 determined that the agency need for the special
17 government employees services outweighs the potential
18 for a conflict of interest created by the financial
19 interest involved or when interests of the regular
20 government employee is not so substantial as to be
21 deemed likely to affect the integrity of the services

1 which the government may expect from the employee.

2 Based on today's agenda and all financial
3 interests reported by Committee members and
4 consultants, there have been no conflict of interest
5 waivers issued under 18 US Code 208 in connection with
6 this meeting. We have the following consultants
7 serving as temporary voting members: Dr. John Coffin,
8 Dr. John DiPersio, Dr. Amylou Dueck, Dr. Stephanie
9 Keller, Dr. Jaroslaw Maciejewski, and Dr. Donna
10 Roberts.

11 We have two voting patient representatives,
12 namely Ms. Sylvia Anspach and Mr. Steven Shapero.
13 Additionally, we have the following consultants serving
14 as temporary non-voting members: Dr. Victor Gordeuk,
15 Dr. Navdeep Singh, and Dr. Janelle Trieu. Dr. Eric
16 Crombez, of Ultragenyx Gene Therapy, will serve as the
17 alternate temporary industry representative at today's
18 meeting. Industry representatives are not appointed as
19 special government employees and serve only as non-
20 voting members of the Committee. Industry
21 representatives act on behalf of all regulated industry

1 and bring general industry perspectives to the
2 Committee.

3 Dr. Randy Hawkins is serving as the alternate
4 temporary consumer representative for this Committee
5 meeting. Consumer representatives are appointed
6 special government employees and are screened and
7 cleared prior to their participation in this meeting.

8 They are voting members of the Committee. We have the
9 following federal speaker today who has been screened
10 for his conflicts of interest and cleared to
11 participate in today's meeting: Dr. Stephen Hughes,
12 senior investigator, HIV Dynamics and Replication
13 Program from the NCI from NIH.

14 In disclosures of conflicts of interest with
15 speakers and guest speakers follow applicable federal
16 laws, regulations, and FDA guidance. FDA encourages
17 all meeting participants, including open public hearing
18 speakers, to advise the Committee of any financial
19 relationships they may have with any effected firms,
20 its products and, if known, its direct competitors.

21 We would like to remind regular and temporary

1 voting members that if the discussions involve any
2 other products or firms not already on the agenda for
3 which an FDA participant has a personal or imputed
4 financial interest that participants need to inform the
5 DFO and exclude themselves from the discussion, and
6 their exclusion will be noted for the record. This
7 concludes my reading of the conflicts of interest
8 statement for the public record. At this time I would
9 like to hand over the meeting to our chair, Dr.
10 Butterfield. Thank you.

11 **DR. LISA BUTTERFIELD:** Great. Thank you,
12 Christina. And so, with all of that, I would like to
13 welcome Dr. Wilson Bryan, the Director of OTAT FDA for
14 the opening remarks from FDA.

15

16 **FDA OPENING REMARKS**

17

18 **DR. WILSON BRYAN:** Good morning and welcome on
19 behalf of the FDA, the Center for Biologics Evaluation
20 and Research, and the Office of Tissues and Advanced
21 Therapies, or OTAT. Over the next two days this

1 Committee will consider two Biologics License
2 Applications, or BLAs, from bluebird bio Inc.

3 The two products for discussion are
4 elivaldogene autotemcel, or eli-cel, a gene therapy for
5 the treatment of cerebral adrenoleukodystrophy and
6 betibeglogene autotemcel, or beti-cel, a gene therapy
7 for the treatment of beta thalassemia. The two
8 products both use a lentiviral vector to deliver a
9 gene. As you will hear, we are concerned that the
10 vector has the ability to cause hematologic
11 malignancies in the patients who receive these
12 products.

13 Because this safety concern relates to both
14 products, we have a relatively unusual format for the
15 agenda over the next two days. This morning we will
16 hear about the efficacy and safety of eli-cel for the
17 treatment of cerebral adrenoleukodystrophy. This
18 afternoon we will hear about the risk of hematologic
19 malignancy with respect to both products. We will then
20 ask the Committee to discuss and vote on issues related
21 to the safety and effectiveness of eli-cel.

1 Tomorrow morning we will hear about the
2 efficacy and safety of beti-cel for the treatment of
3 beta thalassemia. Tomorrow afternoon we will ask the
4 Committee to discuss and vote on issues related to the
5 safety and effectiveness of beti-cel. We are fortunate
6 to have experts on each topic serving on the Committee,
7 but the Committee members are slightly different for
8 each topic. We very much appreciate the Committee's
9 willingness to indulge us in this somewhat unusual
10 agenda.

11 We are asking this Committee to consider
12 critical clinical questions regarding safety and
13 effectiveness. The two applications also have CMC or
14 manufacturing issues. However, we are working with
15 bluebird to address those issues and do not have CMC
16 questions for this Committee.

17 Cerebral adrenoleukodystrophy is similar to
18 many extremely rare genetic disorders. It's a bad
19 disease with limited treatment options. Also, as with
20 many rare diseases, development of products to treat
21 CALD can be particularly challenging due to the limited

1 number of study subjects, due to the limited natural
2 history data, particularly in pre-symptomatic disease
3 to support study design and interpretation, and due to
4 disease heterogeneity.

5 In this setting, as we will hear today, a
6 single arm study of limited duration with an external
7 control group can be extremely difficult to interpret.
8 We must not forget that, as with many of these rare
9 genetic disorders, there is a tremendous unmet need for
10 effective treatments for CALD. However, patients
11 should not be subjected to products that are
12 ineffective or have an unfavorable benefit/risk
13 profile. This eli-cel BLA is particularly challenging
14 due to issues with the evidence of effectiveness as
15 well as our concerns regarding safety, particularly the
16 risk of hematologic malignancy.

17 And we ask this Committee to weigh these
18 issues in the setting of a desperate clinical
19 situation. We are truly grateful to bluebird bio and
20 the scientists and other professionals who have brought
21 this product to this stage of development. We are also

1 grateful to the patients and their caregivers who
2 participated in the clinical trials that will be
3 discussed today. The FDA thanks the participants in
4 today's open public hearing. It is critical that we
5 hear from patients and patient advocates, particularly
6 regarding the benefits and risks associated with eli-
7 cel.

8 Many individuals are not able to participate
9 today, and we appreciate and will carefully consider
10 the written comments that we receive regarding eli-cel.
11 We want to thank all the members of this Committee who
12 have given their time to participate in today's
13 discussion. I also want to thank the members of the
14 FDA review team and the Advisory Committee staff who
15 have worked tirelessly to prepare for today's meeting.
16 I now turn to Dr. Butterfield to continue with the
17 agenda.

18 **DR. LISA BUTTERFIELD:** All right. Thank you
19 very much, Dr. Bryan, for those remarks. So, with
20 that, let's begin our work of the day on efficacy and
21 safety, and so I would like to welcome a series of

1 presentations from the applicant bluebird bio. And
2 we'll start with Ms. Eggimann.

3

4 **APPLICANT PRESENTATIONS: INTRODUCTION**

5

6 **MS. ANNE-VIRGINIE EGGIMANN:** Thank you, Dr.
7 Butterfield. Thank you, Dr. Bryan. Good morning. I'm
8 Anne-Virginie Eggimann, chief regulatory officer at
9 bluebird bio, Inc. We thank the FDA, the panelists,
10 and the patients who participated in our clinical
11 trials, as well as their families for making this
12 meeting possible. Over the next two days we look
13 forward to discussing the development of our lentiviral
14 vector gene therapies for the treatment of rare and
15 severe genetic diseases.

16 The first product we will discuss this morning
17 is elivaldogene autotemcel, also known as eli-cel,
18 developed for the treatment of early active cerebral
19 adrenoleukodystrophy, or CALD. CALD is an ultra-rare,
20 pan-ethnic, life-threatening, neuro --

21 **MR. MICHAEL KAWCZYNSKI:** Could you give us --

1 oh, one moment please. We want to make sure your
2 slides are up, so just give us one second. bluebird,
3 you want to go ahead and give it a shot now?

4 **MS. ANNE-VIRGINIE EGGIMANN:** Sure. The first
5 product we will discuss this morning is elivaldogene
6 autotemcel, also known as eli-cel, developed for the
7 treatment of early active cerebral
8 adrenoleukodystrophy, or CALD. CALD is an ultra-rare,
9 pan-ethnic, life-threatening, neurodegenerative disease
10 that impacts the brain of boys typically between the
11 age of 4 and 10. Patients with early CALD urgently
12 need a treatment option that can stabilize their
13 neurological function.

14 The second product, which we will discuss
15 primarily tomorrow, is betibeglogene autotemcel, also
16 known as beti-cel, which is developed for the treatment
17 of patients with beta thalassemia who require regular
18 red blood cell transfusions. These transfusions are
19 required for survival as these patients cannot produce
20 enough of their own hemoglobin. In addition, this
21 afternoon we will discuss the safety of lentiviral

1 vectors, or LVVs, based on our experience with eli-cel
2 and beti-cel as well as a third LVV gene therapy in
3 clinical development for the treatment of sickle cell
4 disease called lovo-tibeglogene autotemcel, or lovo-cel.

5 Eli-cel and beti-cel are two different
6 products. However, they share some key features.
7 They're both first-in-class, one-time gene therapies
8 that consist of the patient's own blood stem cells that
9 have been genetically modified *ex vivo* with a
10 lentiviral vector. Both products address the
11 underlying cause of the disease they aim to treat by
12 adding functional copies of a gene into the patient's
13 blood stem cells. These gene addition is permanent and
14 resulting gene expression is expected to be life-long.

15 Treatment steps for both products are also
16 similar, as shown on the next slide. First, cells are
17 collected from the patient. These cells are then
18 shipped to the manufacturing facility, where they're
19 transduced with the LVV to produce the drug product.
20 After testing, the frozen drug product is shipped to
21 the hospital. As for allogeneic transplant, the

1 patient undergoes conditioning to clean the bone marrow
2 niche before drug product administration. The drug
3 product is then thawed and infused back to the patient.
4 Despite these key features, eli-cel and beti-cel are
5 separate and distinct gene therapies with key
6 differences.

7 Eli-cel uses Lenti-D LVV, which has a
8 ubiquitous promoter to add the ABCD1 gene into the
9 patient's cells. After engraftment, the transduced
10 cells differentiate and migrate to the brain to produce
11 functional ALD protein to stabilize CALD. In contrast,
12 beti-cel uses a different lentiviral vector, BB305 LVV,
13 which has a lineage-specific promoter. BB305 LVV adds
14 the Beta A-T87Q-globin gene in the patient's cells to
15 ultimately produce red blood cells that contain beti-
16 cell-derived adult hemoglobin.

17 Today and tomorrow we'll present robust
18 evidence supporting a separate and distinct
19 benefit/risk assessment for eli-cel and beti-cel, both
20 uniquely positive for the disease they intend to treat.
21 Tomorrow, we will show that beti-cel provides a high

1 rate of durable transfusion-independence and trends of
2 improvement in iron overload and erythropoiesis. Its
3 safety profile reflects known side effects of
4 mobilization and conditioning, and during beti-cel
5 clinical development there was no deaths, no
6 malignancy, and no BB305 LVV mediated safety event.

7 In summary, beti-cel is a potentially curative
8 option for patients with beta thalassemia who require
9 regular red blood cell transfusions. Today, we will
10 focus on eli-cel. We will show that eli-cel is
11 efficacious in treating early active CALD as compared
12 to untreated patients and compared to the standard of
13 care allogenic transplant. Eli-cel can stabilize CALD
14 and, in certain circumstances, provide a survival
15 advantage compared to allograft transplant.

16 Eli-cel has important identified risks, which
17 must be considered, including the risk of
18 myelodysplastic syndrome, or MDS. Because of the fatal
19 nature of CALD and the inherent morbidity and mortality
20 of allograft, benefit/risk evaluations of eli-cel
21 show that it is an essential, life-saving therapy for

1 patients with mismatched donors and a meaningful option
2 for those with a matched, unrelated donor. The
3 proposed indication for eli-cel is for the treatment of
4 patients with early active cerebral
5 adrenoleukodystrophy who are less than 18 years of age
6 and do not have an available and willing HLA-matched
7 sibling donor.

8 Comprehensive data supporting the efficacy and
9 safety of eli-cel were collected in five clinical
10 trials conducted over the past decade and (inaudible)
11 numerous fruitful interactions with the FDA. Shown in
12 purple are studies conducted in patients with CALD who
13 are either untreated or treated with allogenic
14 transplant. These studies provided context for our two
15 eli-cel studies, shown in light blue, that treated a
16 total of 67 patients. We are committed to the follow-
17 up of patients for 15 years post-treatment in our long-
18 term follow-up study and, after approval, in our
19 registry study.

20 This is our agenda for today. This morning
21 you will hear an overview of CALD and the summary of

1 the data supporting a positive benefit/risk assessment
2 of eli-cel and a proposed indication. A number of
3 additional key experts will be with us today to answer
4 questions. Thank you. And now I would like to invite
5 Dr. Eichler to provide an overview of CALD, a
6 devastating disease with a high unmet need.

7

8 **APPLICANT PRESENTATIONS: CEREBRAL ADRENOLEUKODYSTROPHY**

9

10 **DR. FLORIAN EICHLER:** Thank you. My name is
11 Florian Eichler. I'm a neurologist at Mass General. I
12 have no financial interest in the outcome of this
13 meeting, but my institution has received funding for
14 the clinical trial as well as for my consulting on this
15 program.

16 So what is adrenoleukodystrophy?

17 Adrenoleukodystrophy is a single gene disorder due to
18 mutations in the ABCD1. ABCD1 encodes a peroxisomal
19 half transporter that is responsible for importing very
20 long-chain fatty acids into the peroxisome, hence, very
21 long-chain fatty acids accumulate across multiple

1 tissues. There are four main forms of
2 adrenoleukodystrophy that range in severity. Childhood
3 cerebral adrenoleukodystrophy is the most severe form,
4 and approximately 40 percent of boys, mostly between
5 the ages of 4 and 10, develop this devastating
6 phenotype.

7 I want to illustrate here a boy who came to my
8 clinic. You can see this boy six months before
9 arrival, precocious, very active here in a karate
10 class, high-functioning. And then, on the right you
11 see the boy after onset of cerebral
12 adrenoleukodystrophy, six months after coming to my
13 clinic. He is at this point nonverbal. He has trouble
14 walking and has vision difficulties. You can see the
15 marked sensory attacks here causing him to stumble and
16 need assistance. These boys do not suffer from
17 development delay but rather from regression after
18 onset of demyelination in the brain.

19 Importantly, the lesions were already
20 progressing at the time he was asymptomatic. Now this
21 disease strikes boys in the prime of their development

1 and affects multiple neurologic domains. To measure
2 this progressive disease, Gerald Raymond developed a
3 25-point neurologic function score that encompasses the
4 many effected domains. These range from cognition to
5 vision to swallowing, gait difficulties, incontinence,
6 to seizures.

7 From these we define six major functional
8 disabilities, or MFDs, because they are clinically
9 meaningful and unambiguous measures of cerebral ALD
10 disease burden: loss of communication, cortical
11 blindness, tube feeding, wheelchair dependence, no
12 voluntary movements, and total incontinence. These
13 MFDs were used to define a binary endpoint, the MFD-
14 free survival. Let me emphasize three points here.
15 First, specific definitions of these event terms have
16 been provided to all investigators who are experts in
17 the care for cerebral ALD patients and who are trained
18 to detect these events.

19 Second, in separate research on the test
20 characteristics, even physicians who are naïve to the
21 MFD assessment reliably detected the presence of MFDs

1 in all simulated scenarios, with 97 percent inter-rater
2 agreement, as described by Raymond and colleagues.
3 Third, patients who progress to this stage typically
4 develop multiple MFDs concurrently or in short
5 sequence, further supporting that the binary MFD-free
6 survival endpoint is a robust measure.

7 As I mentioned before, active cerebral ALD can
8 progress swiftly. The boys usually develop normally,
9 many performing at a high level, then develop attention
10 deficit and personality changes. They go on to have
11 vision and hearing problems, develop gait problems, and
12 then often are vegetative or dead within one to two
13 years. It's important to note that the brain MRI
14 changes occur prior to onset of symptoms, and you can
15 see the earliest signs of disease on brain MRI. As
16 shown in the bottom panels, lesions spread within the
17 white matter of the brain and in a symmetric conflict
18 fashion take over the entire white matter over time,
19 spreading like wildfire and destroying neurologic
20 function as it progresses.

21 A scoring system was developed by Daniel Loes

1 that accounts for lesion growth as it effects different
2 anatomical regions. This system ranges from 0 to 34,
3 with the lower numbers showing smaller lesions, the
4 higher numbers larger lesions, as illustrated on the
5 right. Early cerebral ALD is defined as Loes scores
6 from 0.5 to 9 and NFS of 0 or 1. If white matter
7 lesions are present on MRI, we determine whether the
8 lesion is active using a contrast agent such as
9 gadolinium. Gadolinium enhancement is a strong
10 predictor of rapid disease progression and impacts
11 treatment decisions. It indicates the breakdown of the
12 blood/brain barrier, which is visible as garland of
13 contrast enhancement on brain MRI.

14 You can see here work from the group at
15 Hopkins and Elias Melhem, which showed that patients
16 who gadolinium enhancement on their MRI had rapid
17 lesion progression on follow-up. Whereas those
18 patients without gadolinium enhancement showed less or
19 no growth of their lesion. Gadolinium enhancement is a
20 pathognomonic sign of active, meaning progressive,
21 cerebral ALD. It is a trigger for treatment either by

1 bone marrow transplantation or, in our case, enrollment
2 in the ex vivo gene therapy trial. This reflects the
3 international recommendations for the diagnosis and
4 management of patients with adrenoleukodystrophy.

5 We've known for several decades that
6 allogeneic stem cell transplantation, if the graft
7 takes, can slow or stop cerebral ALD progression and
8 improve survival compared to no treatment, shown here
9 on the left. The effect of allogeneic stem cell
10 transplantation also extends into functional outcomes
11 if performed in the early stages of disease, shown here
12 on the right. In those boys that are treated too late,
13 the lesion is too large, and these boys suffer from
14 neurologic disease progression despite transplantation.

15 The goal of treatment is to halt disease.
16 Treatment does not reverse deficits. Because of this,
17 it is absolutely critical to monitor these boys by MRI
18 to detect active disease as early as possible. Once
19 the boys have active cerebral ALD, it is an absolute
20 urgency to proceed to treatment, as they would
21 unfortunately otherwise progress and experience rapid

1 neurologic decline. While effective, allogeneic stem
2 cell transplantation has substantial risks. Among
3 these are transplant-related mortality, graft failure,
4 and graft versus host disease.

5 We have learned over the years that outcomes
6 are typically more favorable if transplantation is
7 performed using cells from an unaffected HLA-matched
8 sibling donor, but only approximately 10 percent have
9 such a donor. The remaining 90 percent of patients may
10 have a matched unrelated donor or may only have HLA-
11 mismatched donor options. In conclusion, cerebral ALD
12 is characterized by inflammatory cerebral demyelination
13 leading to progressive, irreversible loss of neurologic
14 function across different domains and death if left
15 untreated. It is striking boys in the prime of their
16 development, and progression can be swift.

17 Allogeneic stem cell transplantation is
18 effective if performed at the early stage of cerebral
19 involvement. As you will see in the following
20 presentations, patients without matched sibling donors
21 have substantial risks associated with allogenic

1 transplantation, particularly for those with only an
2 HLA-mismatched donor. We think that ex vivo gene
3 therapy using autologous cells is therefore
4 particularly appropriate for these patients and
5 provides benefit and new options for them. Thank you.

6

7 **APPLICANT PRESENTATIONS: EFFICACY**

8

9 **DR. JAKOB SIEKER:** Thank you, Dr. Eichler.
10 I'm Jakob Sieker, the eli-cel clinical development
11 physician at bluebird bio. Over more than a decade,
12 despite its rarity, the clinical program collected data
13 on over 250 CALD patients across five trials. ALD-101
14 is a retrospective study that defined the natural
15 course of untreated CALD and historic outcomes of
16 allogenic stem cell transplantation. ALD-101 informed
17 the selection of the primary endpoints for the pivotal
18 eli-cel study and defined the benchmark that
19 efficacious treatments must exceed.

20 While allogenic stem cell transplantation is
21 not an approved treatment, the data reflect that

1 patients who are identified at an early stage of active
2 disease are rarely left untreated. Early detection and
3 treatment of appropriate patients before loss of
4 neurologic function occurs is desirable but impacted
5 the available data on untreated patients. This
6 introduces the need for careful consideration of the
7 program's data in totality. Sixty-seven boys with
8 early active CALD were treated with eli-cel in clinical
9 studies.

10 ALD-102 is the completed pivotal eli-cel study
11 and described eli-cel safety and efficacy in 32
12 patients. ALD-104 is a second eli-cel study with a
13 similar design providing additional efficacy and safety
14 information. Procedural differences between the
15 studies pertain to the mobilization regimen, lymph
16 node (phonetic) depleting agent, and post-infusion
17 GCFUs. Enrollment and treatment in ALD-104 are
18 complete. Follow-up is ongoing.

19 After two years in the eli-cel treatment
20 studies patient enrolled in the long-term follow-up
21 study LTF-304. Available LTF-304 durability and long-

1 term safety data are integrated in this presentation.
2 ALD-103 is a partially retrospective and partially
3 prospective non-interventional study. It enrolled 59
4 boys with early or advanced CALD who received allogenic
5 stem cell transplantation in or after 2013. ALD-103
6 serves as a contemporaneous external control to the
7 pivotal eli-cel Study 102.

8 Today I will present eli-cel's efficacy in
9 three parts: first, eli-cel compared to no treatment;
10 second, eli-cel compared to allogenic stem cell
11 transplantation; and third, the durability of eli-cel's
12 effects. I want to start with the comparison of eli-
13 cel to no treatment. The primary efficacy analysis was
14 a comparison of eli-cel to a pre-specified benchmark
15 that reflects the course of untreated CALD. Further,
16 we will compare eli-cel to an untreated patient
17 population with early active disease and address the
18 FDA's observations. The pivotal eli-cel Study ALD-102
19 met the prespecified success criterion for the primary
20 efficacy endpoint.

21 This endpoint was major functional disability-

1 free survival at two years after treatment. At this
2 timepoint, 29 out of 32 eli-cel treated patients, or
3 90.6 percent, were alive and free of MFDs. The
4 confidence interval lower bound was 75 percent and
5 clearly exceeded the pre-specified benchmark of 50
6 percent show here as an orange line. The MFD-free
7 survival endpoint included MFD, death, or second
8 transplantation events. After Study ALD-102 was
9 complete, three cases of myelodysplastic syndrome, or
10 MDS, were reported.

11 In order to capture all major events, the
12 event-free survival was analyzed. Event-free survival
13 includes all the elements of MFD-free survival plus
14 MDS. From here on I will present event-free survival.
15 The event-free survival at two years in the ALD-102
16 population is identical to the primary analysis. To
17 reflect the total eli-cel treated populations I will
18 present the pooled results of both eli-cel studies from
19 here on.

20 In the total eli-cel population the event-free
21 survival at two years was 91 percent, also, clearly

1 exceeding the pre-specified benchmark. I will now
2 address an FDA observation. The pre-specified
3 benchmark was in large parts derived from an untreated
4 patient population with active CALD in Study ALD-101.

5 FDA observed that this untreated population
6 had more advanced disease and likely progressed more
7 rapidly than the treated population, which had early
8 active disease. Therefore, it raises the question if
9 the 50 percent benchmark and the two-year timepoint are
10 appropriate to assess whether eli-cel is superior to no
11 treatment. We can address this observation in two
12 ways. First, we can evaluate the proportion of event-
13 free survival at several years beyond the two-year
14 timepoint.

15 Second, we can use a population derived by FDA
16 that represents early active CALD without treatment.
17 If we look beyond two years, eli-cel continues to
18 exceed the benchmark at three, four, and five years
19 after treatment, assuaging any concerns about baseline
20 dissimilarities and the potential effect on time to
21 progression of disease. When we planned this primary

1 efficacy analysis with the FDA, the planning showed
2 that to present two-year data for the initial cohort of
3 17 patients. We present to you today with over five
4 years of follow-up on this cohort.

5 The second way to address FDA's observation is
6 to compare eli-cel to the untreated population derived
7 by the Agency that reflects early active CALD. The
8 Agency applied an imputation strategy that resulted in
9 the subgroup of seven untreated patients who will
10 eventually develop documented active disease but were
11 at an early stage around the first available MRI. Five
12 of these patients developed MFDs or died. Shown here
13 in green is the Kaplan-Meier curve of event-free
14 survival from CALD diagnosis.

15 Using this conservation imputation strategy,
16 these untreated patients developed major functional
17 disability at a substantial rate within two years from
18 CALD diagnosis. As noted by the FDA, the median time
19 to event was 20.4 months after diagnosis for the five
20 patients who experience events. Eli-cel compared
21 favorably to no treatment. Added here in blue is the

1 Kaplan-Meier curve of event-free survival for eli-cel
2 treated patients from infusion. Estimated event-free
3 survival at two years was 92 percent after eli-cel,
4 which compared favorably to the 57 percent estimated
5 for no treatment.

6 At seven years, 87 percent of eli-cel treated
7 patients were estimated to be event-free compared to 38
8 percent of untreated patients. Based on this
9 exploratory analysis, eli-cel reduces the risk of
10 developing events by 72 percent compared to no
11 treatment. Due to the occurrence of MFDs after seven
12 years, and the low number of patients with follow-up
13 beyond this time point, the event-free survival is
14 considered not reliably characterized beyond seven
15 years.

16 You've seen that eli-cel compares favorably to
17 no treatment. Now I'm going to show you how it
18 compares to allogeneic stem cell transplantation
19 without matched sibling donor and address FDA's
20 observations of the similar baseline characteristics
21 between the treatment groups. Dr. Eichler explained

1 that matched sibling donors typically have favorable
2 outcomes and would receive allogeneic transplantation.
3 Therefore we focus on the population without matched
4 sibling donors who would be eligible to receive eli-cel
5 according to a proposed indication statement.

6 Only patients with early active disease were
7 used for efficacy comparisons between eli-cel and
8 allogeneic stem cell transplantation. Baseline
9 characteristics of these populations were comparable.
10 The median ages at CALD diagnosis and stem cell
11 infusion were slightly higher in patients treated with
12 allogeneic stem cell transplantation. However, the
13 baseline characteristics most critical to CALD
14 progression, including the NFS less MRI score and the
15 gadolinium enhancement status, were similar.

16 Approximately 95 percent of patients had a
17 baseline neurologic function score of zero in both
18 populations. Median Loes score was two and identically
19 in both populations. All patients had gadolinium
20 enhancement at enrollment or prior to treatment.
21 Because of the high similarity among these critical

1 characteristics we conclude that it's appropriate to
2 compare these efficacy populations. Event-free
3 survival after eli-cel, shown here in blue, compared to
4 favorably to allogeneic stem cell transplantation in
5 purple.

6 The estimated two year survival rates were 92
7 versus 71 percent, respectively. This benefit was also
8 observed in the propensity score adjusted analysis that
9 adjusts for minor baseline differences. The patients
10 treated with allogeneic stem cell transplantation
11 without matched sibling donor shown here either
12 received cells from a matched unrelated donor or from a
13 mismatch donor, and results for these groups are shown
14 on the next slide. Here are the results for patients
15 who had a matched unrelated donor. These are
16 comparable to eli-cel.

17 Next, I will show you an additional curve with
18 the results for those who only had mismatched donors.
19 These patients experienced frequent early events,
20 largely representing second transplantation due to
21 graft failure. The event-free survival at month 24 was

1 92 percent after eli-cel and 43 percent have HLA-
2 mismatched transplantation. We agree with the Agency
3 that eli-cel's benefit is most apparent for patients
4 who only have mismatched donor options.

5 I want to remind you that the definition of
6 event-free survival, as shown here, considers MFD,
7 death, MDS, and second transplantation as events. We
8 consider graft failures, as observed in the allogeneic
9 stem cell transplantation group, as events. These are
10 major events with prolonged hospitalization, increased
11 risk of death, disease progression, or other
12 complications. Since the Agency observed that graft
13 failures are not commensurate with death or MFD we
14 would be prepared to show pertinent sensitivity
15 analysis if raised during Q&A.

16 You've seen that eli-cel compares favorably to
17 allogeneic stem cell transplantation without matched
18 sibling donor, particularly with mismatched donors.
19 Now I'm going to show the durability of eli-cel's
20 effect using direct clinical measures of neurologic
21 function and cognition. The neurologic function score

1 covers a broad range of pertinent symptoms, including
2 the major functional disabilities and symptoms of
3 lesser severity. At two years after eli-cel treatment,
4 89 percent of evaluable patients had no change from
5 their baseline score.

6 The majority of patients maintained their
7 baseline score beyond two years after treatment,
8 including 86 percent with no change from baseline at
9 year five. Lastly, the performance intelligence
10 portion data, shown here, reflect the performance of
11 pertinent subscales from age-appropriate Wechsler Test.
12 These functional tests are recognized as sensitive
13 measures of cognitive ability and demonstrate that at
14 two and five years after eli-cel treatment, the
15 majority of patients maintained normal IQs.

16 In summary, eli-cel compares favorably to no
17 treatment. The pivotal eli-cel study met the primary
18 efficacy success criterion. 90.6 percent of patients
19 were alive and free of MFD at month 24 post-treatment.
20 The confidence interval lower bound of 75 percent
21 clearly exceeded the pre-specified 50 percent

1 benchmark. Eli-cel continued to exceed the pre-
2 specified benchmark at three, four, and five years
3 after treatment.

4 Further, eli-cel reduces the risk of
5 developing events by 72 percent compared to an imputed,
6 untreated population with early active CALD derived by
7 FDA. Dr. Eichler showed you that allogeneic stem cell
8 transplantation is effective and the standard of care
9 for patients with early active CALD. Therefore, we
10 present the data in context of transplantation.

11 These data demonstrate that event-free
12 survival after eli-cel compared favorably with
13 allogeneic stem cell transplantation without matched
14 sibling donor. For the populations used here,
15 differences in baseline characteristics were either
16 absent or minor, and propensity score adjusted analysis
17 support the primary conclusions.

18 It is important to note that the event-free
19 survival rate after eli-cel is similar to allogeneic
20 stem cell transplantation with a matched unrelated
21 donor, which also achieved 90 percent event-free

1 survival at month 24. In contrast, the event-free
2 survival rate after eli-cel is higher than for HLA-
3 mismatched transplantation, with a rate of 43 percent
4 at month 24 for the latter.

5 Further, eli-cel's efficacy is durable. Eli-
6 cel maintained an event-free survival rate of 87
7 percent through seven years of follow-up. And lastly,
8 the majority of eli-cel treated patients maintained
9 their baseline neurologic function and normal
10 performance IQ. And now I would like to turn it over
11 to Dr. Demopoulos for the safety and benefit/risk
12 assessment.

13

14 **APPLICANT PRESENTATIONS: SAFETY AND BENEFIT/RISK**

15

16 **DR. LAURA DEMOPOULOS:** Thank you, Dr. Sieker.
17 My name is Laura Demopoulos. I'm a safety physician at
18 bluebird bio. In this section of the presentation I'm
19 going to describe the safety data from the eli-cel
20 development program. A key driver for the development
21 of an autologous treatment option for CALD patients was

1 the morbidity and mortality of immune incompatibility
2 events following an allo-graft transplant.

3 The next several slides thus provide
4 comparative data between eli-cel and allotransplants
5 relating to these complications. A primary safety
6 success criterion was prospectively established for the
7 program and was defined as the proportion of eli-cel
8 treated patients in Study ALD-102, shown on the left,
9 versus allo-treated patients in study ALD-103, shown on
10 the right, who experienced acute or chronic graft
11 versus host disease in the 24 months after treatment.

12 As expected, autologous treatment with eli-cel
13 did not result in GVHD events while just over half of
14 patients in TP-103 experienced acute or chronic GVHD.
15 This difference was highly statically significant, and
16 the primary safety success criterion was met. Events
17 with a fatal outcome effected one patient, or 1.5
18 percent of eli-cel treated patients, seen here at TP-
19 102/104. This patient had clinical and radiologic
20 evidence of rapid disease progression starting almost
21 immediately after eli-cel treatment. He then developed

1 four major functional disabilities followed by cardio-
2 respiratory arrest two years after treatment.

3 In contrast, there were 15 deaths in the allo
4 population of TP-103, representing just over one
5 quarter of patients. Two were in the matched sibling
6 donor, or MSD, subgroup, and 13 were in recipients of
7 an allo-graft from a donor that was not a matched
8 sibling, shown here as the NMSD subgroup. Death has
9 occurred disproportionately in the NMSD subgroup. Of
10 the 15 deaths, 9 were considered transplant-related and
11 7 followed the occurrence of GVHD.

12 The striking difference in death rates between
13 eli-cel and allo-treated patients underscores the
14 significant potential for transplant-related death
15 following allo graft treatment, primarily among those
16 without a matched sibling donor and particularly
17 following the occurrence of GVHD. Another
18 manifestation of immune incompatibility is engraftment
19 failure. This figure depicts the proportion of
20 patients with successful primary neutrophil
21 engraftment.

1 All eli-cel patients engrafted successfully at
2 a median of 13 days after treatment. Ninety percent of
3 patients in TP-103 overall had successful primary
4 engraftment, with all failures in the NMSD subgroup.
5 Following primary neutrophil engraftment, all eli-cel
6 treated patients followed for two years maintained
7 engraftment. In contrast, about a quarter of allo
8 patients in TP-103 had engraftment failure by two
9 years, as did about a third of NMSD recipients.

10 Nine of the ten allo-treated patients with
11 either primary or secondary engraftment failure
12 required subsequent allotransplants, and three of these
13 nine patients died on study. Having reviewed the
14 comparative data for GVHD, death, and engraftment
15 failure the next several slides describe safety
16 findings specific to the eli-cel treatment regimen,
17 which comprises mobilization apheresis, conditioning
18 and eli-cel treatment.

19 Serious adverse events eli-cel treated
20 patients were generally attributed to conditioning,
21 eli-cel or CALD. Of the 67 patients treated, just over

1 half had any serious adverse event. Treatment emergent
2 serious adverse events occurring in at least two
3 patients are tabulated here, with febrile neutropenia
4 and pyrexia being most common. Serious adverse events
5 attributed to eli-cel and serious seizures will be
6 discussed in more detail shortly.

7 Due to the disease under study, serious
8 neurologic events are of particular interest. Seven
9 patients treated with eli-cel were effected. Of these,
10 two had major functional disabilities in association
11 with another neurologic SAE. The first of these is the
12 patient I described previously who died, having
13 developed serious dyskinesia followed by multiple MFDs.
14 The second patient developed transverse myelitis seven
15 months after treatment. He subsequently developed an
16 MFD of total incontinence, which was thought to be a
17 consequence of transverse myelitis.

18 Five patients had serious seizures, all with
19 onset two or more years after eli-cel treatment. Four
20 of these patients are otherwise clinically stable and
21 have had follow-up ranging from one to five years since

1 seizure onset. The fifth patient has unfortunately
2 developed additional neurologic symptoms including gait
3 disturbance and visual impairment. Adverse drug
4 reactions due to eli-cel itself occurred in eight, or
5 about 12 percent, of patients.

6 Five patients had eli-cel-related serious
7 adverse events. Three of these were cases of
8 myelodysplastic syndrome, and that'll be discussed in
9 more detail shortly. Two patients had prolonged
10 pancytopenia following treatment. One of these was
11 subsequently diagnosed with MDS, while the other has
12 parvovirus. One patient had an event of BK viral
13 cystitis, which resolved with supportive care. Three
14 patients had non-serious events of eli-cel infusion-
15 related vomiting and nausea.

16 As mentioned, three patients treated with eli-
17 cel were diagnosed with myelodysplastic syndrome
18 identified as likely mediated by Lenti-D lentiviral
19 vector insertion, thus representing insertional
20 oncogenesis. The topic of lentiviral vector safety and
21 insertional oncogenesis will be discussed in detail

1 this afternoon. Two of these three patients presented
2 similarly. They had timely neutrophil engraftment, but
3 their time to platelet engraftment was markedly longer
4 than for other subjects, just over 100 days.

5 Further, integration site analysis, or ISA, an
6 exploratory assay used to identify specific vector
7 insertion sites in the stem cell genome and to monitor
8 clonal dynamics identified vector containing clones
9 contributing at least 50 percent of analyzed cells in
10 both patients at month six. Each had a vector
11 insertion in MECOM, a known proto-onco gene. No driver
12 mutations were identified in either subject, and their
13 bone marrow biopsies showed dysmegakaryopoiesis. Both
14 were diagnosed with single lineage MDS effecting
15 megakaryocytes within two years of eli-cel treatment.
16 Both have since undergone allotransplant and are in
17 remission.

18 A third patient in the eli-cel program was
19 more recently diagnosed with MDS, approximately seven
20 and a half years after he was treated. He had had
21 stable and polyclonal bone marrow recovery but then

1 presented with severe thrombocytopenia and circulating
2 blasts, which contained the lentiviral vector. ISA
3 showed a clone with a PRDM16 insertion, which
4 contributed more than 50 percent of analyzed cells.
5 PRDM16 is proto-onco gene similar to MECOM. He
6 underwent chemotherapy followed by allotransplant and
7 is in early recovery.

8 Given the importance of insertional
9 oncogenesis, specific monitoring for MDS will be
10 implemented in the post-marketing setting. Extensive
11 data analyses for early detection and risk mitigation
12 reinforce the importance of a routine CBC at least
13 every six months as the basis for this monitoring.
14 Patients with specific CBC abnormalities will be
15 evaluated to determine the underlying cause. Further,
16 early markers of risk include peripheral blood vector
17 copy number at month six and evidence of clonal
18 hematopoiesis. These will be routinely assessed in the
19 post-marketing registry study, REG-502.

20 Close follow-up will be facilitated by
21 restricting eli-cel access to a limited number of

1 expert qualified treatment centers, where we anticipate
2 approximately 10 patients per year will be treated
3 given the rarity of the disease. This framework
4 establishes the basis for continual reassessment of
5 benefit/risk, and any subsequent changes to monitoring
6 can be rapidly communicated. Conclusions based on the
7 safety data are as follows. The primary safety success
8 criterion of a significant reduction in GVHD was met.
9 Notably, eli-cel treatment entirely avoided key immune-
10 mediated complications of allotransplant, including
11 graft versus host disease, graft failure, and
12 transplant-related mortality.

13 Adverse drug reactions related to eli-cel
14 include myelodysplastic syndrome, pancytopenia, viral
15 cystitis, and infusion reactions. As described, a
16 comprehensive post-marketing surveillance plan for
17 malignancy will be established. What follows next is
18 an integrated view of the benefit/risk balance of eli-
19 cel treatment derived from the programs efficacy and
20 safety data in the context of the natural history of
21 CALD and existing treatment options.

1 The assessment of the benefit/risk profile of
2 eli-cel is complex. Untreated, CALD can result in
3 devastating neurologic decline and death in childhood.
4 Allotransplant is the only available therapeutic option
5 and has good outcomes when a matched sibling donor is
6 available. Unfortunately, only about 10 percent of
7 effected children have a matched sibling donor. NMSD
8 allo grafts have significant morbidity and mortality
9 resulting from immune incompatibility.

10 As Dr. Sieker presented, outcomes are
11 heterogenous in this subgroup, depending on whether the
12 donor is a matched unrelated donor or a mismatched
13 donor. Thus defining the optimal use of eli-cel
14 requires balancing the known benefits and risks of an
15 NMSD allo graft against the demonstrated benefits and
16 gene therapy-specific risks of autologous eli-cel
17 therapy. A Cox proportional hazard ratio analysis was
18 performed to provide eli-cel versus allo comparative
19 data for both event-free survival, shown in the top
20 panel, and overall survival in the bottom panel.

21 The eli-cel population in this analysis

1 comprises all 67 treated patients. And the allo
2 comparator includes those subjects from the ALD-103
3 study who matched eli-cel eligibility criteria and fit
4 the proposed indication, abbreviated as TPES-103 NMSD.
5 Also shown are the allo component subgroups, that is
6 recipients of matched unrelated or mismatched allo
7 grafts. Recall that for purposes of this analysis,
8 events included MFDs, deaths, second transplant, as
9 well as the three cases of myelodysplastic syndrome in
10 the eli-cel treated patients.

11 This analysis demonstrates that the advantage
12 of eli-cel is more apparent for patients who only have
13 mismatched donor options in whom eli-cel reduces the
14 hazard of an event or death by more than 90 percent and
15 that eli-cel may be an acceptable alternative treatment
16 option for those with a matched unrelated donor. This
17 graphic depicts an integrated approach to considering
18 treatment in patients with CALD.

19 As is the case for all patients with life-
20 threatening diseases, patients with CALD benefit from
21 having multiple treatment options. The shaded

1 horizontal bar represents the spectrum of
2 allotransplant histocompatibility. Those with a
3 matched sibling donor should undergo allotransplant, as
4 the risk of immune complications is low and long-term
5 benefit has been established. Patients with only
6 mismatched donor options should be treated with eli-
7 cel, as the rate of early morbidity and mortality after
8 allotransplant in this group is extremely high.

9 Patients with matched unrelated donors fall in
10 a spectrum where considerations beyond
11 histocompatibility may weigh in favor of either
12 treatment. These factors are shown in the white box in
13 the center. Some of these are assessed clinically,
14 while others reflect personal preference and
15 circumstance. The aggregate weight of these
16 considerations will determine which options should be
17 used.

18 Accordingly, the approval of eli-cel for the
19 treatment of patients without a matched sibling donor
20 will allow for individualized treatment decisions and
21 improved patient care. Thank you and I'd like to turn

1 it over to Dr. Christine Duncan to provide a clinical
2 perspective.

3

4 **APPLICANT PRESENTATIONS: CLINICAL PERSPECTIVE: THE ROLE**
5 **OF ELI-CEL**

6

7 **DR. CHRISTINE DUNCAN:** Thank you, Dr.
8 Demopoulos. I'm Christie Duncan. I'm the medical
9 director of Clinical Research and Development in the
10 Gene Therapy Program at Boston Children's Hospital and
11 the in-patient director of our Pediatric Stem Cell
12 Transplant Service. My clinical expertise is in the
13 cellular therapy of children who are diagnosed with
14 rare neurometabolic disorders. Thank you to the FDA
15 and this Advisory Committee for today's discussion of
16 eli-cel.

17 It is my honor to offer my clinical
18 perspective on the role of eli-cel in the future
19 landscape of the treatment for cerebral ALD. In over
20 12 years I've treated 43 patients with cerebral ALD
21 with allogeneic stem cell transplant or eli-cel. One

1 of the most important things I have learned in treating
2 these children is that there's no average, there's no
3 typical patient. Each child is unique in the
4 presentation of their cerebral disease.

5 Some have long family histories of many
6 effected relatives. Others were identified because of
7 a diagnosis of a sibling who in many cases was too
8 advanced for treatment, has died, or is neurologically
9 devastated. Some boys are diagnosed because their own
10 neurologic or adrenal symptoms, and thankfully, a
11 growing number of boys are diagnosed because of newborn
12 screening. There's also diversity in the therapeutic
13 options available to boys with cerebral ALD. Cellular
14 therapy is not effective for boys with advanced
15 disease, and neither allogeneic transplant nor gene
16 therapy is advised in that setting.

17 Allogeneic transplant is a consideration for
18 patients with early stage disease. This is a complex
19 process that occurs at the hands of highly trained
20 providers at certified specialized centers. Planning a
21 transplant requires the understanding and balancing of

1 many factors, including the selection of a donor, the
2 stem cell source, chemotherapeutic conditioning
3 regimen, and graft versus host disease prevention
4 treatment.

5 We do not have enough time today to discuss
6 all of the elements of transplant care, nor are all of
7 those factors pertinent to our discussion. I would
8 like to talk about the aspects of transplant care that
9 are highly relevant to the discussion of eli-cel. Data
10 over decades has demonstrated that for patients who
11 have HLA-match related donors, who do not have an ABCD1
12 gene mutation the risks associated with allogeneic
13 transplant are convincingly outweighed by the potential
14 benefits.

15 Stem cell transplant is the standard of care
16 for patients who have acceptable available related
17 donors. You'll note that matched sibling donor is a
18 planned exclusion in the indication for eli-cel.
19 Unfortunately, due to the genetic nature of this
20 disease, match related donor transplants are uncommon.
21 Per the data between 2013 and 2015 show that only

1 approximately of 10 percent of allotransplants for this
2 disease use related donors.

3 For the majority of cerebral ALD patients
4 unrelated donor transplantation is complicated.

5 International registries are searched to find
6 appropriate, unrelated donors. For approximately 75
7 percent of Caucasian donors patients with Western
8 European ancestry an acceptable donor can be
9 identified. This is not the case for all other racial
10 and ethnic groups. For Hispanic patients in the United
11 States an appropriate donor can be identified for
12 approximately 40 percent of patients and for less than
13 20 percent of African American patients. The current
14 unrelated donor pool is not sufficient for all
15 patients, particularly non-Caucasian ones.

16 Those who cannot find an acceptable unrelated
17 donor need different options. Based on those data, one
18 could argue that the most appropriate role for eli-cel
19 is in patients who do not have a fully related, match
20 related, or unrelated donor. I disagree. The risks of
21 transplant are significant for those who have

1 mismatched unrelated donors and those who have matched
2 unrelated donors. Those risks include greater risk of
3 graft failure, graft versus host disease, and death
4 compared to patients treated with related donors.

5 Not all matched unrelated donors are the same.
6 There are factors such as the donor age, sex, and
7 others that impact outcome. And I'm happy to discuss
8 those further in the Q&A if considered. Those are
9 facts. Those are not merely academic considerations.
10 They are the realities of unrelated donor
11 transplantation. I've transplanted a young boy with
12 cerebral ALD three times in the same hospitalization,
13 the second and the third transplants performed in the
14 ICU due to graft failure.

15 He survived to hospital discharge and died a
16 year later of complications of treatment disease. I
17 spent hours trying to find appropriate mental
18 healthcare for children suffering from depression and
19 anxiety as a result of the complications of treatment
20 and the prolonged isolation that follows allogeneic
21 stem cell transplant.

1 And I stood next to the mother and the father
2 of a patient as we watched their son die after
3 suffering myocardial infarction at age nine directly as
4 a result of chronic graft versus host disease -- a
5 myocardial infarction at age nine years old. That was
6 not academic for any of us. Boys who do not have a
7 match related donor need options for alternative
8 therapies. The other thing we must remember is the
9 impact of this horrific disease and complex treatments
10 on the patients and families.

11 As you've heard, these patients come to
12 treatment discussions in the setting of trauma that can
13 come from the experience of death or neurologic
14 deterioration of another child or family member. The
15 trauma may come from receiving a new diagnosis and
16 learning what that means for their child. And our team
17 spend many hours over days to weeks trying to support
18 families in their trauma and to prepare them for the
19 road ahead: a road that involves a long time in a
20 hospital, a road that involves immune suppressing
21 medications, and a road that involves frequent visits

1 and common readmissions to the hospital. And that road
2 is often far from home.

3 We have to consider their access to longer
4 term care and how patients and families will navigate
5 the time, energy, and cost of treatment, and more. I
6 have met with and treated dozens of patients with
7 cerebral ALD, and I know that we need more. And I know
8 that we can do better.

9 I had hoped that things would be crystal clear
10 with the development of an autologous gene therapy.
11 I've been pleased to see how well an autologous
12 transplant can be tolerated in the short term compared
13 to allogeneic transplant with less time spent in the
14 hospital, fewer urgent care visits, and less post-
15 transplant medications with fewer side effects of those
16 drugs.

17 Further, I've been pleased to see that the
18 completed pivotal eli-cel study was a success based on
19 the primary efficacy and safety endpoints. The
20 supporting MFS, Loes score, and neuropsychological
21 testing helped confirm that for me, and provide

1 additional insights about the treatment process.
2 Clearly, I hoped that there will be no insertional
3 oncogenesis, that none of my patients would develop
4 myelodysplasia or cancer. But this happened, and we
5 take this issue extremely seriously.

6 I was consenting physician for all 26 boys
7 treated at our center, including two of the three boys
8 who developed myelodysplasia. I told their mothers
9 about the MDS diagnosis, explained what happened to the
10 best of our understanding, and have been their
11 physician through the next steps of care. I also
12 shared the news with the families of every other
13 patient we treated at our center, and those are not
14 easy conversations.

15 While I know that the FDA decision regarding
16 eli-cel is not based only on the perceptions and
17 feelings of patients and their family members, I feel a
18 responsibility to share their voice. When told about
19 the MDF, not one family expressed anger or regret.
20 They expressed concern for the effected children. They
21 said they knew of the risk, and they all wanted to know

1 how we are moving forward.

2 As I told you before, I'm used to difficult
3 situations and outcomes treating children with cerebral
4 ALD with cellular therapies. These are intense and
5 arduous therapies. MDS after eli-cel is an important
6 consideration, but it is not the only consideration.
7 We need to balance the potential risks and benefits of
8 therapeutic options available to each patient. We need
9 to educate patients and families and move forward
10 together as we determine which patients benefit most
11 from eli-cel.

12 At the end of it, one spectrum is a patient
13 has a matched sibling donor with all other factors
14 being favorable. I'm comfortable with allogeneic
15 transplant for that patient. At the other end of the
16 spectrum is a patient who has no related or unrelated
17 donor options. We need an option for that patient. In
18 between those ends of the spectrum are multiple layers
19 of complexity, and we need to allow for open dialogue
20 about the possibilities. These are case-by-case
21 discussions often with no clear-cut answers.

1 Having multiple therapeutic options allows for
2 better treatment conversations and I believe better
3 care. Patients and families benefit from options,
4 however complex they may be. Allowing for informed
5 decision making between our healthcare team and our
6 families should be our goal. I ask you to support eli-
7 cel as a treatment option for our patients without
8 matched sibling donors, and I hope that we have
9 adequately explained to you the critical need for
10 children with cerebral adrenoleukodystrophy. Thank
11 you.

12

13 **FDA PRESENTATION: ELIVALDOGENE AUTOTEMCEL (ELI-CEL) :**
14 **BLA 125755 CLINICAL CONSIDERATIONS FOR EFFICACY AND**
15 **SPECIFIC SAFETY IN EARLY CEREBRAL ADRENOLEUKODYSTROPHY**

16

17 **DR. SHELBY ELENBURG:** Good morning. I'm Dr.
18 Shelby Elenburg. I'm a medical officer in Office of
19 Tissues and Advanced Therapies CBER FDA. I will be
20 presenting FDA's review of the evidence provided to
21 support efficacy in BLA 125755 for elivaldogene

1 autotemcel, or eli-cel, in the treatment of early
2 cerebral adrenoleukodystrophy in males less than 18
3 years of age without an available and willing HLA-
4 matched sibling hemopoietic stem cell donor. My
5 colleague, Dr. Leah Crisafi, will be presenting FDA's
6 prospective on product specific safety.

7 I will briefly review the pathophysiology and
8 disease background for cerebral adrenoleukodystrophy,
9 or CALD, the eli-cel product, and clinical development
10 program including an overview of the primary eli-cel
11 study ALD-102. I then will present the data supporting
12 efficacy and the identified review issues. Dr. Crisafi
13 will review product-specific safety issues and the
14 benefit/risk summary to complete this morning's
15 presentation.

16 Cerebral adrenoleukodystrophy, or CALD, is a
17 rare, x-linked neurodegenerative metabolic disorder
18 caused by mutations in the ABCD1 gene that lead to
19 accumulation of very long-chain fatty acids, or VLCFAs,
20 that start a neuroinflammatory cascade. CALD develops
21 in approximately 40 percent of the roughly 1 in 20,000

1 males effected by the broader x-linked
2 adrenoleukodystrophy. It presents between
3 approximately 3 to 10 years of age, initially with
4 attention deficit hyperactivity disorder-like symptoms,
5 behavioral concerns, or adrenal insufficiency before
6 progressing into neurologic dysfunction.

7 Once symptomatic, if left untreated,
8 neurologic deterioration to a vegetative state and
9 ultimately death typically occurs by the second decade
10 of life. CALD is heterogeneous, and some patients have
11 slow disease progression and could remain asymptomatic
12 for many years. Unfortunately, there is no way to
13 predict an individual patient's rate of progression or
14 how long after diagnosis symptoms will begin.

15 There is no FDA approved treatment for CALD in
16 the United States, but allogeneic hematopoietic stem
17 cell transplants, or allo-HSCT, is the standard of care
18 performed shortly after diagnosis when there is the
19 earliest evidence of cerebral involvement on brain MRI
20 and often before the onset of symptoms.

21 It has traditionally been thought that the

1 ideal donor for HSCT is an HLA-matched sibling donor of
2 the patient. It is also generally thought that HSCT
3 from alternative donors is associated with increased
4 HSCT-related risks, including graft rejection, graft
5 versus host disease, and transplant-related mortality.
6 As you have heard, the most commonly used scoring
7 system to rate clinical severity of disease in CALD has
8 been neurologic function score, or NFS. A score of 0
9 to 25 is assigned based on 15 symptoms across 7
10 domains. A score of zero is asymptomatic or normal,
11 and a higher score indicates more symptomatic and
12 severe disease.

13 The major functional disabilities, or MFDs,
14 are a subset of the NFS that are considered largely
15 irreversible, clinical neurologic changes, and CALD.
16 The MFDs were chosen by the applicant based on impact
17 on independent functioning. The six MFDs are indicated
18 by red boxes in this figure and are loss of
19 communication, cortical blindness, tube feeding,
20 wheelchair dependent, loss of voluntary movement, and
21 total incontinence.

1 Cerebral adrenoleukodystrophy, CALD, is
2 diagnosed radiographically once there's evidence of
3 brain involvement with characteristic demyelination on
4 MRI. And, therefore, the diagnosis of cerebral ALD can
5 be made prior to the onset of symptoms. Loes score is
6 a scoring system developed to grade demyelination on
7 brain MRI and CALD based on location and extent of
8 disease and presence or absence of focal and/or global
9 atrophy. A score of 0 to 34 is assigned where 0
10 indicates a normal MRI, or absence of disease, and
11 higher scores correlate with more severe radiographic
12 disease.

13 Early disease physically corresponds to a Loes
14 score between 0.5 and 9, with scores above 9 considered
15 advanced disease. Gadolinium is a contrast agent now
16 routinely utilized in CALD brain MRIs. Presence of
17 gadolinium enhancement, or GdE+, is indicative of
18 active inflammatory demyelination associated with
19 increased risk of disease progression and higher five-
20 year mortality. Hematopoietic stem cell transplant is
21 typically performed once early active CALD is diagnosed

1 based on MRI findings.

2 MRI findings are thus used for guiding
3 treatment decisions and for monitoring of radiographic
4 disease progression following transplant. The drug
5 product elivaldogene autotemcel, or eli-cel, is a
6 lentiviral vector, LVV, gene therapy product intended
7 to replace the deficient ABCD1 gene. It will be
8 discussed in further detail in the cross-product safety
9 discussion later this afternoon.

10 As you have heard from bluebird bio, the
11 clinical development program includes several studies.
12 The primary trial for eli-cel is ALD-102, a Phase 2/3
13 trial completed in March 2021. An additional Phase 3
14 trial, ALD-104, is ongoing. Both are open label,
15 single arm, multi-center international studies of eli-
16 cel in males less than 18 years of age with early
17 active CALD who were to be followed for at least two
18 years for safety and effectiveness.

19 Although ALD-102 and ALD-104 are similarly
20 designed studies, conditioning regiments were different
21 between the two studies. After completion of ALD-102

1 or ALD-104, subjects are supposed to enroll in the
2 long-term follow-up study, LTF-304, for a total of 15
3 years of follow-up. The external control data used to
4 support this application comes from two additional
5 studies. Study ALD-101 is a completed retrospective
6 natural history study of untreated and allo-HSCT
7 treated CALD patients. Study ALD-103 was a combined
8 retrospective and prospective observational study of
9 CALD patients treated with allo-HSCT intended as a
10 contemporaneous comparator for Study ALD-102.

11 It is worth noting that both control studies
12 included at least some retrospective data collection.
13 In addition, although Study ALD-101 data was collected
14 in 2011 and 2012, it was important to understand that
15 some of the ALD-101 subjects were diagnosed and/or
16 treated 10 to 20 years, or more, prior to the treatment
17 of subjects in ALD-102 with eli-cel. This is critical
18 to understanding some of the differences in study
19 populations I will tell you about in this presentation,
20 as ALD-101 subjects were diagnosed when diagnostic
21 methods were not as sophisticated, and patients were

1 often diagnosed at more advanced stages of disease.
2 Study ALD-102 was the primary study submitted
3 by bluebird bio to support the safety and effectiveness
4 of eli-cel. Subjects were eligible to enroll if they
5 were males 17 years of age or younger with active CALD,
6 which was defined by elevated VLCFA levels, brain MRI
7 demonstrating Loes score between 0.5 and 9, and
8 gadolinium enhancement. They also had to have an NFS
9 of zero or one.

10 The intent was to enroll CALD subjects with
11 early active cerebral disease who are asymptomatic or
12 minimally symptomatic and have a high risk of disease
13 progression. Throughout this presentation I will often
14 refer to this early active disease population, which is
15 also the population thought most likely to benefit from
16 HSCT, as the primary comparator. Subjects were
17 excluded from Study ALD-102 if they had a 10 out of 10
18 HLA-matched sibling donor.

19 The primary efficacy endpoint was number and
20 proportion of subjects achieving month 24 major
21 functional disability-free survival compared to a

1 benchmark value of 50 percent derived from analyses of
2 the natural history study ALD-101. There are a lot of
3 populations that will be mentioned throughout this
4 presentation, and the strictly ALD-102 eligible
5 population are important to understand. Strictly ALD-
6 102 eligible is terminology chosen by the Applicant to
7 define populations in the external control studies ALD-
8 101 or ALD-103 who were supposed to have the same
9 baseline early active disease defining criteria as the
10 subjects enrolled in eli-cel study ALD-102: an NFS of
11 zero or one, Loes score between 0.5 and 9, and GdE+
12 MRI.

13 As it is incredibly important, I remind you
14 that this is the early active disease population who
15 have no symptoms or very mild symptoms and are at high
16 risk of disease progression. In subjects who received
17 allogeneic HSCT, the strictly ALD-102 eligible
18 population are named TPES. I ask you to please
19 remember this term, TPES, as I will use it frequently
20 throughout the presentation to refer to the early
21 active disease population that received HSCT and that

1 would have been eligible for involvement in the primary
2 eli-cel study.

3 The TPES-101 population in Study ALD-101
4 included 26 subjects, and TPES 103 in Study ALD-103
5 included 27 subjects. In the untreated population of
6 Study ALD-101, there was only one subject who met the
7 strictly ALD-102 eligible criteria. This may be
8 explained by the fact that gadolinium status was not
9 routinely assessed at the time subjects in Study ALD-
10 101 were diagnosed and thus, for many subjects,
11 gadolinium status was unknown.

12 By the time gadolinium was assessed in these
13 untreated subjects, many already had advanced
14 symptomatic disease with NFS and Loes scores outside
15 the criteria listed on this slide and, thus, were not
16 strictly ALD-102 eligible. The primary efficacy
17 endpoint was number and proportion of subjects
18 achieving month 24 major functional disability-free
19 survival compared to a clinical benchmark from the
20 natural history study.

21 To achieve MSD-free survival at month 24

1 following eli-cel treatment a subject must be alive,
2 not have developed any of the six major functional
3 disabilities, or MFDs, not have received rescue cells
4 or hematopoietic stem cell transplant, and not have
5 withdrawn from the study or been lost to follow-up.
6 The benchmark for success was greater than 50 percent
7 of subjects achieving month 24 MFD-free survival. The
8 benchmark was derived from two populations in the
9 retrospective natural history study, ALD-101.

10 Population number one was a cohort with
11 presence of gadolinium enhancement on brain MRI who
12 were untreated and never received HSCT, referred to as
13 UTG-101. Throughout this presentation I will often
14 refer to this cohort simply as population number one.
15 It is worth noting that population number one is not
16 strictly ALD-102 eligible and the majority of this
17 population had more advanced disease with higher NFS
18 and Loes scores than the eli-cel study cohort.

19 The MFD-free survival for population number
20 one at month 24 following the first GdE+ MRI was 21
21 percent with an upper bound of the 95 percent

1 confidence interval of 45.6 percent below the 50
2 percent benchmark value. Population number two was the
3 strictly ALD-102 eligible HSCT treated population, or
4 TPES-101, without a matched sibling donor, referred to
5 as no match sibling donor, or NMSD. Remember that the
6 indication for eli-cel is children with early active
7 CALD and no available matched sibling donor. Thus,
8 population number two of the benchmark is the target
9 population for eli-cel.

10 I will use NMSC in this presentation to refer
11 to subjects who had HSCT from donors other than matched
12 sibling donors who are referred to as MSC. The month
13 24 MSC-free survival for population number two
14 following HSCT was 76 percent with a lower bound of the
15 95 percent confidence interval of 50.1 percent. The 50
16 percent benchmark is thus above the upper bound of the
17 95 percent confidence interval for month 24 MFD-free
18 survival in the untreated GdE+ population and the same
19 as the lower bound of the 95 percent confidence
20 interval in the TPES-101 NMSC population.

21 Success on the primary endpoint was apparently

1 designed to show eli-cel is better than no treatment
2 and at least similar to treatment with HSCT. Thirty-
3 two boys aged 4 to 14 years with CALD were enrolled and
4 treated with eli-cel in Study ALD-102 and followed for
5 two years for safety and efficacy. Before I show you
6 study results, it is important for you to understand
7 the key baseline disease characteristics and
8 demographics for cohorts used in the analysis of the
9 primary efficacy endpoint of month 24 MFD-free
10 survival.

11 UTG-101 in the first column is the untreated
12 GdE+ population, or population number one of the
13 benchmark. TPES-101 NMSD in the middle column is the
14 strictly ALD-102 eligible HSCT population with no
15 matched sibling donor or population two of the
16 benchmark. And TP-102 in the last column is the cohort
17 treated with eli-cel in ALD-102, highlighted with the
18 dark blue box.

19 Please look at the top two rows. As you can
20 see, median age and age at diagnosis were higher in the
21 benchmark population than in subjects in ALD-102. More

1 concerning are the baseline, Loes score, and NFS
2 differences, shown in the third and fourth rows and
3 highlighted with the red box for the benchmark
4 population. These baseline characteristics are very
5 different between population number one and population
6 number two.

7 You can see in the bottom row that the
8 baseline NFS of zero is the same in study ALD-102 and
9 population number two, indicating most subjects treated
10 with eli-cel and HSCT in these groups were asymptomatic
11 at baseline. But the baseline NFS for the untreated
12 population number one of 3.5 is much higher, is outside
13 the criteria for early disease, which I remind you is
14 an NFS of zero or one, and indicates that most of the
15 untreated subjects were symptomatic at baseline.

16 Additionally, looking at the range of NFS for
17 population number one the upper limit of 25 is the
18 maximum NFS and indicates some untreated subjects had
19 major functional disabilities at baseline. Drawing
20 your attention to the third row above this for Loes
21 scores, you see again that population number one is

1 much more advanced with a median Loes of 11, again
2 outside the criteria for early disease, which I remind
3 you is a Loes score between 0.5 and 9.

4 While the differences between populations is
5 most striking when looking at untreated population
6 number one, the Loes scores at baseline are also higher
7 in the TPES group for population number two compared to
8 Study ALD-102 subjects treated with eli-cel. These
9 differences in baseline characteristics suggest that
10 eli-cel subjects were treated at an earlier stage of
11 disease which may have biased results in favor of eli-
12 cel.

13 This slide shows the results for the primary
14 efficacy endpoint of month 24 MFD-free survival,
15 comparing eli-cel to the untreated population number
16 one from the clinical benchmark and the strictly ALD-
17 102 eligible TPES populations from studies ALD-101 and
18 103. The figure shows the 50 percent benchmark with an
19 orange dotted line. For each cohort the dot in the
20 middle of the vertical line represents the point
21 estimate for month 24 MFD-free survival, and the line

1 represents the 95 percent confidence interval.

2 Population number one of the benchmark is

3 represented by the UTG-101 green line on the left of

4 the figure, with the entire line below the 50 percent

5 benchmark. The first red dot and line represents TPES-

6 101, and the next red dot and line represents TPES-103.

7 Both of these groups were treated with HSCT and had

8 early active disease. As you can see, both lines were

9 above the 50 percent benchmark. Eli-cel is represented

10 by two lines. The important line to focus on is the

11 dark blue line to the far right denoted all TP-102,

12 which represents the entire eli-cel cohort of 32

13 subjects in Study ALD-102.

14 The dark blue eli-cel line is clearly above

15 the 50 percent benchmark. In this eli-cel cohort there

16 were three failures of MFD-free survival by month 24

17 for a point estimate of 90.6 percent month 24 MFD-free

18 survival, with a 95 percent confidence interval of 75

19 percent to 98 percent, clearly exceeding the 50 percent

20 benchmark. There was only one MFD in a subject who

21 developed total incontinence at month nine.

1 The other two subjects were counted as
2 failures because, at the investigator's discretion,
3 they received rescue allo-HSCT at month 13 for one
4 subject and month 17 for the other, due to the
5 investigator's assessment of progressive radiographic
6 disease on brain MRI, including worsening Loes scores.
7 While the results look impressive for eli-cel, during
8 the review process FDA discovered several issues that
9 led us to question the interpretability of these
10 results. The most pressing concern is comparability of
11 populations as just discussed.

12 While the untreated population appears clearly
13 inferior on the primary efficacy endpoints of month 24
14 MFD-free survival in the figure, I remind you that
15 these subjects had very advanced symptomatic disease at
16 baseline, and it does not seem relevant to compare
17 their 24 month outcomes to the outcomes of subjects
18 with early, mostly asymptomatic disease who received
19 HSCT and eli-cel. I will now elaborate on these
20 comparability concerns.

21 As I mentioned to you in a previous slide, we

1 have multiple issues with the benchmark that was used
2 in the primary analysis. First and foremost, the
3 populations that were used to determine the benchmark
4 were not comparable to the subjects treated with eli-
5 cel in Study ALD-102. Population number one had
6 considerably more advanced and symptomatic disease at
7 baseline, so their outcomes at month 24 would be
8 expected to be worse. Because there is no comparable
9 untreated population with early active disease and we
10 do not know the expected timing between development of
11 MRI lesions and onset of symptoms, we are not confident
12 that 50 percent is an appropriate benchmark to
13 demonstrate the treatment effect of HSCT compared to no
14 treatment in the early active disease population.

15 Additionally, as shown in the demographics
16 table, HSCT population number two was not strictly
17 comparable to the eli-cel cohort in ALD-102 with older
18 age and higher, more advanced Loes at time of
19 treatment. To reiterate, the difference between the
20 benchmark populations and the eli-cel cohort suggests
21 subjects treated with eli-cel in Study ALD-102 were

1 treated at an earlier, less-advanced stage of disease,
2 which would bias results in favor of eli-cel.

3 Our second issue with the benchmark
4 calculation pertains to the imputation strategy that
5 was used for subjects who had to have a repeat HSCT for
6 failure to engraft. In population number two of the
7 benchmark, subjects who received a second transplant
8 after the first HSCT failed to engraft were counted as
9 failures of MFD-free survival and many of the failures
10 of MFD-free survival were from repeat HSCT rather than
11 from MFDs or death.

12 This imputation strategy made the performance
13 of the benchmark population number two look worse and
14 biased the results in favor of eli-cel. No eli-cel
15 subjects received repeat treatment with eli-cel or
16 rescue cells, and eli-cel subjects who were treated
17 with rescue allo-HSCT were treated due to progressive
18 disease, not engraftment failure. We do not feel
19 repeat HSCT for engraftment failure in the HSCT
20 population is the same as disease progression, MFD, or
21 death, and should not be imputed as such.

1 In addition to concerns for comparability and
2 imputation methods contributing to bias, two other main
3 contributors to potential bias were identified. The
4 first is retrospective data collection in Study ALD-101
5 could have resulted in selection bias. Also, the major
6 functional disabilities were derived from Study ALD-101
7 data, and there is concern about bias not only due to
8 knowledge of treatment effects, but also due to the
9 subjective nature of some MFD assessments. In
10 particular, tube feeding and wheelchair dependence may
11 be more temporary or related at times to convenience
12 rather than true need.

13 Finally, 24 months may be insufficient time to
14 assess MFD-free survival. Few events occurred by 24
15 months in the eli-cel and HSCT populations. Most
16 events constituting failure were HSCT, either rescue
17 HSCT in the eli-cel population or repeat HSCT in the
18 HSCT population. MFDs and deaths by 24 months were
19 rare, and most were seen in the untreated population,
20 as would be expected.

21 However, as discussed previously, the

1 untreated population number one in the benchmark is not
2 an appropriate untreated comparator group, as the
3 subjects had quite advanced disease at baseline and
4 some even had MFDs at baseline. While we know that
5 disease progression will occur if CALD is left
6 untreated, we do not know the timeframe of disease
7 progression following diagnosis of early active
8 asymptomatic disease and thus cannot be confident that
9 progression would have occurred in the two years
10 following diagnosis.

11 Additional reviewer-initiated analysis of the
12 Study ALD-101 untreated population indicated that some
13 of these subjects may be slow progressors and remain
14 asymptomatic for many years. We therefore cannot be
15 confident that the subjects with early active disease
16 would have experienced disease progression in two years
17 if not treated with HSCT, as in population in number
18 two, or with eli-cel. And there is no way to predict
19 which CALD patients will be slow progressors. As HSCT
20 is now largely routine upon diagnosis of early active
21 cerebral disease, there likely never will be an

1 appropriate untreated comparator. And the comparison
2 between HSCT and eli-cel is critical.

3 Only additional long-term follow-up of similar
4 populations could help elucidate the relative efficacy
5 of eli-cel compared to HSCT on MFD-free survival for
6 CALD patients with early active disease. With all of
7 these uncertainties it is unclear if eli-cel is
8 efficacious on month 24 MFD-free survival. Now I will
9 review some of the secondary and exploratory analyses
10 done by the Applicant. Relative efficacy of HSCT and
11 eli-cel for many of these endpoints were assessed over
12 time in time to event analyses, rather than
13 specifically assessed at month 24.

14 The secondary endpoints had no pre-specified
15 hierarchical order, so we consider them as exploratory.
16 The populations used for these analyses differs
17 somewhat from those used in the benchmark and primary
18 endpoint analyses. So I'll first show you another
19 demographics and baseline disease characteristics
20 table. In this table I will show you the key baseline
21 features for the eli-cel treated cohort and the HSCT

1 comparators for the analyses of relative efficacy. The
2 applicant's main comparator cohort is the strictly ALD-
3 102 eligible HSCT cohort with no match sibling donor in
4 Study ALD-103 or TPES-103 NMSD.

5 I remind you that Study ALD-103 was the more
6 contemporaneous HSCT study. Baseline features for the
7 TPES-103 NMSD population, which included only 17
8 subjects, are shown in the first column. As you can
9 see in the second and last columns, we pooled some
10 populations to increase the robustness of some of the
11 exploratory analyses, largely to maximize data due to
12 the rarity of disease and limited number of children
13 treated in each of the study cohorts.

14 In the righthand column, demographics of eli-
15 cel cohorts are shown. From Study ALD-102 or cohort
16 TP-102, already reviewed, and a pooled group in the far
17 right column to include subjects from ALD-102 and 16
18 subjects with at least 24 months of follow-up in the
19 ongoing eli-cel study ALD-104. We pooled the eli-cel
20 cohorts because we wanted to see if adding additional
21 eli-cel subject's with at least 24 months of data

1 provided additional support for efficacy. As you can
2 see by comparing the two eli-cel columns on the right,
3 this pooled population has similar baseline features to
4 the subjects in Study ALD-102 alone.

5 In the second column, the table shows that the
6 TPES-103 NMSD cohort pooled at the TPES and MSD
7 population in Study ALD-101 or population number two of
8 the benchmark, whose demographics were already
9 reviewed. As mentioned, there were only 17 subjects in
10 the TPES-103 NMSD main comparator group. Of these only
11 nine, or 53 percent, had at least 24 months of follow-
12 up after HSCT, and long-term data beyond 24 months is
13 scant. We therefore pooled the TPES NMSD population in
14 order to evaluate outcomes following HSCT in a TPES
15 NMSD population with longer duration of follow-up.

16 I would like to draw your attention to the
17 Loes score in the third row and highlighted by a red
18 box for the TPES NMSD population. As the Applicants
19 showed you in their presentation, Loes scores were
20 similar for eli-cel in the two far right columns and
21 TPES-103 NMSD in the first column. However, once

1 populations were pooled, we again see that the baseline
2 Loes score for TPES NMSD populations, as shown in the
3 second column, is higher or more advanced than the eli-
4 cel population.

5 We are concerned that the differences in
6 demographics and disease characteristics indicate the
7 eli-cel subjects may have been treated at an earlier
8 stage of disease, which would bias in favor of eli-cel.

9 You have already seen a similar Kaplan-Meier curve in
10 the Applicant's presentation. I am showing you this
11 Kaplan-Meier estimate of time to event for MFD-free
12 survival to show you how results comparing eli-cel and
13 HSCT were presented by the Applicant in the original
14 BLA submission.

15 This figure shows the estimates of MFD-free
16 survival over time in the study ALD-102 eli-cel cohort
17 TP-102 represented by the blue line; the TPES-101 NMSD
18 cohort, or population number two from the benchmark,
19 represented by the green line; and TPES-103 NMSD,
20 represented by a red line. The Applicant focused on
21 this TPES-103 NMSD group as the primary comparator for

1 eli-cel for relative efficacy analyses. I remind you
2 that the TPES NMSD populations are subjects who were
3 treated with HSCT from donors other than a matched
4 sibling and who had early active disease. They are the
5 target population for eli-cel.

6 MFD-free survival probability as a percentage
7 is shown on the Y-axis and months since relative
8 treatment or treatments on relative day one is shown on
9 the X-axis. I want you to draw your attention to the
10 first six months following treatment where the observed
11 difference between the eli-cel line and the HSCT line
12 was largely driven by the Applicant's imputation of
13 repeat HSCT for engraftment failure as an event.
14 Again, as previously discussed, we do not feel repeat
15 HSCT for engraftment failure is similar to disease
16 progression, MFDs, or death.

17 We have several other concerns with this
18 analysis that I will now discuss. Our other issues
19 with this comparison are reminiscent of our issues with
20 the Study ALD-101 benchmark analysis. The main concern
21 other than the repeat HSCT imputation is the lack

1 comparability between treatment groups, as reviewed in
2 the demographics slide. Another point of concern is
3 that bias may have been introduced through
4 retrospective data collection for all Study ALD-101
5 data and some of the Study ALD-103 data and during the
6 assessment of MFDs, as previously discussed.

7 Another important concern that interferes with
8 interpretability is that only nine, or 53 percent, of
9 the subjects in the Applicant's primary comparator
10 group, TPES-103 NMSD, completed at least 24 months of
11 follow-up. This resulted in significant amounts of
12 missing data. Longer term data beyond 24 months is
13 scant and is primarily available in the TPES-101 NMSD
14 population, of which 17 of 27 subjects had at least 24
15 months of data. Few MFDs or deaths during the limited
16 duration of follow-up make relative efficacy difficult
17 to interpret.

18 In conclusion, the comparison of eli-cel
19 results in Study ALD-102 to TPES-103 or TPES-101 NMSD
20 cohort by a Kaplan-Meier estimate of MFD-free survival
21 over time is not easy to interpret given all of these

1 uncertainties and potential biases. It is important to
2 keep in mind as I review the rest of the efficacy
3 results that these limitations effected analysis of all
4 other secondary and exploratory efficacy endpoints as
5 well. As previously discussed, in an attempt to
6 increase the robustness of our analysis of MFD-free
7 survival, we asked the Applicant to conduct several
8 exploratory analyses that involve pooling of HSCT
9 cohorts and eli-cel cohorts.

10 We also asked for a more conservative
11 imputation strategy. To be conservative, failures of
12 MFD-free survival for allo-HSCT cohorts included MFD
13 and death only. We asked that repeat HSCT not be
14 imputed as failure since all repeat HSCT was performed
15 due to graft failure and not progression of disease.
16 For eli-cel cohorts failure of MFD-free survival
17 included MFD, rescue allo-HSCT, death, and
18 myelodysplastic syndrome, or MDS.

19 Following BLA submission, three cases of MDS,
20 a form of cancer that is very rare in children, were
21 diagnosed in subjects treated with eli-cel. Due to the

1 morbidity and mortality associated with MDS we feel it
2 is reasonable to impute it as a failure. MDS will be
3 discussed in the safety presentation and in much more
4 detail this afternoon.

5 In this exploratory analysis where the repeat
6 HSCT was not imputed as failure and myelodysplastic
7 syndrome was imputed as failure, the outcomes of MFD-
8 free survival over time are pretty much identical
9 between the pooled eli-cel cohort and the TPES NMSD
10 cohorts, except at the very beginning and at the end.
11 The reason for the dip in the blue eli-cel line at the
12 end is a subject who developed myelodysplastic syndrome
13 approximately seven and a half years after treatment.
14 The reason for the small dip in the HSCT line at the
15 beginning interested us.

16 Understanding that CALD is a devastating
17 disease with unmet medical need we wanted to see if
18 there was a subpopulation for which there was more
19 robust efficacy data. When looking at line listings of
20 the data, there appeared to be a trend toward early
21 failures of MFD-free survival in subjects who received

1 HSCT from HLA-unmatched donors regardless of
2 relatedness of donor to subject. To elaborate, even
3 though it is traditionally understood that matched
4 sibling donors are the ideal HSCT donors, the pattern
5 we saw indicated subjects who received transplants from
6 HLA-unmatched donors seems to do more poorly than
7 recipients of HLA-matched donor HSCT, even if the donor
8 wasn't related to the CALD recipient.

9 In other words, subjects with both matched
10 sibling donors and matched unrelated donors seems to do
11 well compared to subjects who received HSCT from
12 unmatched donors. To explore this pattern and the
13 slight difference in MFD-free survival during the first
14 few months in the NMSD analysis I just showed you we
15 asked the Applicant to conduct another exploratory
16 analysis comparing long-term outcomes between eli-cel
17 and TPES-101 and 103 recipients of HSCT from HLA-
18 matched and unmatched donors.

19 In this exploratory analysis, pooled eli-cel
20 TP-102 and TP-104 are again represented by the blue
21 line. Pooled TPES-101 and 103 subjects with HLA-

1 matched donors are represented by the red line and
2 pooled TPES-101 and 103 subjects with HLA-unmatched
3 donors are represented by the green line. The same
4 imputation methods are used in this analysis where
5 repeat HSCT and HSCT-treated control is not imputed as
6 failure and myelodysplastic syndrome in eli-cel
7 subjects is imputed as failure.

8 Here we see that eli-cel and matched donor
9 HSCT are nearly identical, but please look at the
10 unmatched donor HSCT green line. It is strikingly
11 different with a significant drop to near 80 percent at
12 six months. After 12 months it parallels the curve for
13 eli-cel and HSCT from matched donors. Although there
14 appeared to be similar rates of major functional
15 disabilities in the matched donor and unmatched donor
16 populations, please look at the table at the bottom of
17 the slide.

18 First MFD occurred earlier at 19 months in
19 subjects with HLA-unmatched donors compared to 35
20 months in subjects with HLA-matched donors. Deaths
21 occurred much sooner in the unmatched donor population

1 at six months compared to 23 months in the matched
2 population. It is important to recognize the limited
3 data however, in that only 17 boys received unmatched
4 donor HSCT, only 12 of whom were followed past 12
5 months. Nonetheless, with this observation of earlier
6 events in the HLA-unmatched HSCT population, we asked
7 the Applicant to do a Kaplan-Meier analysis of overall
8 survival rather than MFD-free survival.

9 Essentially, we wanted to compare only death
10 with no imputation for missing data due to repeat HSCT
11 or major functional disabilities. The cohorts in this
12 analysis are the same as the previous, where the blue
13 line is the eli-cel population, the red line is the
14 pool of HLA-matched donor HSCT TPES population, and the
15 green line is the pool of HLA-unmatched donor TPES
16 population.

17 Estimates for overall survival over time are
18 nearly identical for eli-cel and TPES subjects with
19 HLA-matched donors, irrespective of relatedness of
20 donor to subject. However, the population who received
21 transplant from HLA-unmatched donors had considerable

1 early mortality. Again, I will ask you to focus on the
2 green line in the first six months. Nearly 20 percent
3 died in the first six months following treatment
4 compared to zero percent in the other cohort.

5 Following month 24, the cohorts mirror each
6 other. However, at month 24, both eli-cel and the HSCT
7 population with HLA-matched donors maintained around 90
8 percent survival while the HSCT population with HLA-
9 unmatched donors maintained only around 75 percent
10 survival. However, due to other limitations already
11 discussed and few subjects and events, the results are
12 difficult to interpret, particularly as deaths in the
13 HLA-unmatched cohort may be related to increased
14 toxicity of HSCT in this population. To increase the
15 robustness of the efficacy review, changes in
16 neurologic functions score, or NFS, and Loes score from
17 baseline were also analyzed.

18 This figure shows change in NFS from baseline
19 to month 24 for individual subjects in Study ALD-102
20 with each subject shown by a different line. NFS
21 stayed largely unchanged for the majority of subjects,

1 and only a few increased above NFS of one. The blue
2 line represents the subject who developed major
3 functional disability, whose rapid disease progression
4 up until the subject's death at 22 months.

5 The Applicant defined stable NFS as change of
6 less than or equal to three from baseline and score
7 remaining less than or equal to four at month 24.

8 While most subjects maintained stable NFS by this
9 definition, it is not clear that this definition is
10 appropriate. Any increase in NFS confers worsening
11 neurologic symptoms that may be significant to
12 independent functioning.

13 Regardless, change in NFS for eli-cel subjects
14 in the 24 months following treatment was similar to
15 allo-HSCT subjects in the TPES groups. This is likely
16 due to the short duration of follow-up in studies where
17 24 months may be insufficient time to see NFS changes
18 in boys with early active CALD. While the NFS changes
19 I just showed you were similar between eli-cel and
20 HSCT-treated subjects, some troubling signals were seen
21 when we evaluated change in Loes score from baseline to

1 month 24.

2 This table compares the pool of eli-cel and
3 TPES groups. For pooled eli-cel populations the first
4 red box at the top highlights that only one eli-cel
5 treated subject, or 2.9 percent, experienced a decrease
6 or improvement in Loes score at month 24 compared to
7 13.3 percent in the pooled HSCT population. However,
8 the increases or worsening of Loes scores are more
9 concerning. I would like to draw your attention to the
10 bottom red box where we see that eli-cel populations
11 were more likely to experience worsening Loes score
12 with increase of four or more at month 24.

13 Nearly 50 percent of the eli-cel population
14 had a Loes score increase of four or more at month 24
15 compared to only 20 percent for the TPES HSCT
16 population. This raises the concern that eli-cel is
17 less efficacious than HSCT. However, it is unclear if
18 MRI changes predict later clinical disease progression.
19 The predicted value of the difference in Loes scores
20 could only be elucidated with more time in follow-up.

21 In summary, although the primary eli-cel study

1 ALD-102 was successful on its primary efficacy
2 endpoints, the many issues with the derivation of the
3 benchmarks makes the results difficult to interpret.
4 Furthermore, similar issues were seen in the
5 comparative analyses for other efficacy endpoints,
6 namely comparably issues between populations,
7 imputation methods, and potential bias. The short
8 duration of follow-up in all studies made it especially
9 difficult to assess efficacy due to the unpredictable
10 timing of onset of symptoms and progression of disease
11 in the target population of early active CALD.

12 The rarity of endpoint events in the TPES NMSD
13 and eli-cel populations further complicate the
14 assessment of relative efficacy. We did identify a
15 population of subjects who did exceptionally poorly
16 with HSCT, namely, the HSCT recipients of HLA-unmatched
17 donors, who had approximately a 20 percent early
18 mortality rate in this small series. This may be the
19 more appropriate target population because the risk of
20 early mortality with HSCT in this population is so
21 great.

1 Yet longer term outcomes following eli-cel are
2 unclear compared to HSCT and even no treatment. It is
3 important that any population to be treated with eli-
4 cel has a favorable benefit that outweighs our worries
5 and safety concerns that will now be discussed. I will
6 now turn it over to Dr. Leah Crisafi to discuss product
7 specific safety.

8 **DR. LEAH CRISAFI:** Thank you, Shelby. My name
9 Leah Crisafi. I am a co-reviewer in OTAT, and I will
10 briefly present FDA's assessment of the safety of eli-
11 cel. The safety issues I will cover include the
12 occurrence of engraftment failure and three important
13 types of adverse events that occurred during the eli-
14 cel study. These adverse events relate to low blood
15 counts, opportunistic infections, and, most critically
16 important for this product, cancer that appears to be
17 the result of lentiviral mediated insertional
18 oncogenesis. I will conclude with information about
19 the duration of follow-up that contributes to our
20 uncertainty of the ultimate safety profile of eli-cel.
21 I will start with the engraftment failure.

1 Conditioning for eli-cel involves administration of
2 high dose chemotherapy that kills the cells in the bone
3 marrow, creating an available compartment to be
4 repopulated with the autologous cells containing the
5 lentiviral vector. This repopulation is referred to as
6 engraftment. A clinical measure for evaluating
7 engraftment is peripheral blood counts, and engraftment
8 of the bone marrow is considered a failure when blood
9 counts do not return to a prespecified level after
10 transplant.

11 Neutrophil engraftment failure was defined by
12 the Applicant as failure to achieve three consecutive
13 absolute neutrophil counts of at least 0.5 times 10 to
14 the 9th cells per meter by 42 days. By this
15 definition, no subject failed to engraft. However, the
16 Applicant's definition did not account for the use of
17 granulocyte colony stimulating factor, abbreviated G-
18 CSF. Because G-CSF increases neutrophil production,
19 the FDA determined that ongoing G-CSF administration
20 should preclude achieving neutrophil engraftment.

21 And we determined that six subjects who the

1 Applicant classified as engrafted were receiving G-CSF
2 and did not meet the target neutrophil count of 42 days
3 in the absence of recombinant G-CSF administration. We
4 considered these six subjects to have neutrophil
5 engraftment failure. Platelet engraftment was defined
6 by the Applicant as three consecutive platelet counts
7 of at least 20 times 10 to the 9th per liter without
8 platelet transfusion in the preceding seven days.

9 While the Applicant did not define platelet
10 engraftment failure, FDA determined that the safety
11 assessment of eli-cel should include an assessment of
12 resumption of platelet production, and it made sense to
13 parallel the definition per neutrophil engraftment
14 failure. We therefore used the definition for platelet
15 engraftment failure that is provided on this slide.
16 And with this definition, we determined that 14 out of
17 64 subjects had platelet engraftment failure.

18 In addition to the unexpected cases of
19 engraftment failure, there were persistent cytopenias
20 that I will go over in the next several slides. Severe
21 neutropenia, defined as neutrophils less than 1 times

1 10 to the 9th per liter was present in 21 percent of
2 subjects at day 60 and persisted in 11 percent of
3 subjects at day 100. Severe thrombocytopenia was
4 present in 15 percent of subjects at day 60 and 8
5 percent at day 100.

6 These severely low blood counts put subjects
7 at risk for infectious and bleeding complications for
8 the first several months after eli-cel administration.
9 And such low counts are not anticipated to occur after
10 transplant of sufficient numbers of autologous stem
11 cells that are derived from peripheral blood. For many
12 subjects platelet, hemoglobin, and white blood cell
13 values never returned to their baseline level. The
14 figure on the right demonstrates medium platelet counts
15 over time for subjects who had normal platelet counts
16 at baseline.

17 The black horizontal line denotes no change
18 from baseline. The figure demonstrates that platelet
19 counts did not return to baseline for the duration of
20 follow-up, although the median platelet count for all
21 subjects was within the normal range starting at six

1 months post-eli-cel and continuing for the duration of
2 follow-up. Next is the change in hemoglobin levels
3 from baseline. We can see in this figure that
4 hemoglobin did not return to baseline levels until more
5 than two years after eli-cel administration. However,
6 the median hemoglobin level was in the normal range
7 starting at six months.

8 Last are the white blood cells. These figures
9 show neutrophils and lymphocyte count changes from
10 baseline with data separated by study. In both years,
11 Study ALD-102 data are in blue and Study ALD-104 data
12 in red. The figure on the left demonstrates that
13 neutrophils did not recover to baseline during the
14 seven year follow-up period. Although, neutrophil
15 counts were in the normal range starting at two months
16 post-eli-cel.

17 The figure on the right shows that it took at
18 least two years to recover lymphocyte counts to
19 baseline. Although lymphocytes were in the normal
20 range starting at nine months post-eli-cel. The long-
21 standing reductions in most blood cell types after eli-

1 cel administration were not expected and have not been
2 explained. FDA is concerned that the process of
3 transforming the precursors of these cells into eli-cel
4 may have a detrimental effect on their subsequent
5 ability to generate normal populations of blood cells.

6 Now I will briefly touch on the second adverse
7 event of special interest: opportunistic infections.
8 Eighty-six infections were reported in 34 of 67, or 51
9 percent, of eli-cel treated subjects. The 23 most
10 significant opportunistic pathogens of the 86
11 infections are categorized by time of onset and listed
12 here. The top row has the infections that were either
13 serious or severe, and on the bottom are infections
14 that were not classified as serious or severe. There
15 were six central line infections and five bacteremia.
16 Also notable are numerous viral infections that are not
17 generally problematic in an immunocompetent patient but
18 may cause significant morbidity in the
19 immunocompromised patient.

20 The third type of adverse event I will discuss
21 is the single most important safety issue for eli-cel:

1 insertional oncogenesis. Three of 67 children treated
2 with eli-cel have developed cancer so far. All three
3 cases were diagnosed within the last year, and all
4 three children have gone on to receive hematopoietic
5 stem cell transplant for treatment of their cancer.
6 FDA is concerned that with more time to follow subjects
7 more will be diagnosed with cancer.

8 There are a number of subjects who are
9 currently being closely watched due to concern that
10 they may be developing a hematologic malignancy. And,
11 in addition, it is concerning that nearly all subjects
12 who received eli-cel have integrations into the
13 parietal oncogene MECOM that is implicated in two of
14 the three cancer cases diagnosed thus far. Given the
15 overall short period of follow-up for most subjects, it
16 is important to consider the possibility that many more
17 eli-cel treated subjects will be diagnosed with
18 hematologic malignancy over time.

19 Lastly, I will speak to FDA's concern
20 regarding the relatively short period of follow-up for
21 many of the subjects who have been treated with eli-

1 cel. In order to understand and mitigate the risk of a
2 delayed adverse event resulting from permanent
3 modification of the genome, FDA has long recommended
4 that subjects treated with an integrating vector be
5 followed for safety for 15 years. Keeping in mind the
6 expectation for 15 years of follow-up data to
7 characterize long-term risks of integrating vectors, I
8 would like to highlight the comparatively short
9 duration of follow-up data that we have to characterize
10 the safety of eli-cel.

11 The figure on the right shows the duration of
12 follow-up for the 67 subjects who received eli-cel in
13 Studies ALD-102 and ALD-104. Of the 32 subjects who
14 were treated in the initial study, ALD-102, 27 subjects
15 are still being followed for lentiviral vector safety
16 related outcomes. Of those 27 subjects, the duration
17 of follow-up ranges from approximately two to seven
18 years. For Study ALD-104, which treated its final
19 subject in July 2021, the duration of follow-up data
20 ranges from approximately 1 to 27 months. A final
21 point to note here is that the first subject treated

1 with eli-cel has the longest duration of follow-up.
2 And he is also one of the three who has developed
3 cancer.

4 We don't know how many more of the subjects
5 who were treated after him will also go on to develop a
6 hematologic malignancy. I will conclude by briefly
7 presenting on the challenging topic of the benefit/risk
8 assessments. Even though the primary study, ALD-102,
9 was successful on its primary endpoint, our overall
10 assessment is that the efficacy of eli-cel is difficult
11 to determine given limitations in study design, lack of
12 comparability between eli-cel treated subjects and
13 extremal controls, and that 24 months is an
14 insufficient duration for assessing death and major
15 functional disability in boys with early active CALD.

16 Nonetheless, we understand that CALD is a
17 terrible disease, and therefore, we conducted
18 additional analyses to assess if there may be a
19 subpopulation with CALD for whom eli-cel offers a
20 favorable risk/benefit assessment. We noted that boys
21 without HLA-matched donors who receive HSCT have a high

1 early mortality, and therefore, eli-cel appears to
2 offer a survival benefit compared to unmatched HSCT,
3 especially in the first six months.

4 However, the study interpretability issues
5 make it difficult to assess the long-term outcomes in
6 these boys in the extent that eli-cel offers a
7 treatment benefit compared to no treatment at 24 months
8 with respect to survival or major functional
9 disability. The uncertainty regarding efficacy
10 following eli-cel treatment is particularly challenging
11 in the context of serious safety concerns, including
12 the development of life-threatening hematologic
13 malignancy.

14 Benefit/risk needs to be considered in the
15 context of the condition that is being treated. This
16 is truly challenging based on the available data, given
17 the uncertain benefit and uncertain magnitude of the
18 life-threatening risk of hematologic malignancy. We
19 thank you for your attention and look forward to the
20 Committee's discussion about this complicated
21 benefit/risk analysis.

1

2 **CLARIFYING QUESTIONS TO PRESENTERS**

3

4 **DR. LISA BUTTERFIELD:** All right. Thank you
5 very much to all of the speakers, those from bluebird
6 bio and those from FDA. So we now have almost 30
7 minutes for Q&A, and so I'd like to open it up to
8 members of the Committee. And remember, I'm looking
9 for your hands to go up electronically, and then I will
10 call you on you to ask your question. So the first
11 question I see is from Dr. Coffin, please.

12 **DR. JOHN COFFIN:** Yeah. I have a bunch of
13 questions about the insertional oncogenesis, but I
14 assume -- I'll save those for this afternoon where I
15 assume there'll be a more (audio skip) discussion of
16 that. But I do have a question regarding the clinical
17 outcome of MDS with current treatment methods. And
18 perhaps, Dr. Duncan could address that, what the
19 clinical experience is with treating that (inaudible).

20 **DR. JAKOB SIEKER:** Yes, thank you. I will ask
21 Dr. Duncan.

1 **DR. CHRISTINE DUNCAN:** Thank you for that
2 important question. So the current data that we have
3 published in blood in 2018 shows that in pediatric
4 patients diagnosed with MDS, the event-free survival is
5 approximately 75 percent.

6 **DR. JOHN COFFIN:** Okay. And that -- I'm
7 sorry, event-free, that's five years survival? I don't
8 quite understand that.

9 **DR. CHRISTINE DUNCAN:** Yeah, so, based on that
10 data that's pooled from multiple different studies with
11 different endpoints, but it can be projected to three
12 and a five year survival, approximately 75 percent in
13 pediatric patients.

14 **DR. JOHN COFFIN:** Okay. Thank you.

15 **DR. CHRISTINE DUNCAN:** Thank you.

16 **DR. LISA BUTTERFIELD:** All right. Thank you
17 very much. So, I also have -- next we'll move to Dr.
18 Fox and then Dr. Ott, Dr. Shapero, Dr. DiPersio, and
19 Dr. Hawkins. Dr. Fox, please.

20 **DR. BERNARD FOX:** Yeah, so I think this
21 question is directed to Dr. Duncan, but it's really to

1 comment on Dr. Elenburg's presentation, particularly
2 on, I think it's slide 27, where Dr. Elenburg was
3 summarizing the change in the Loes score.

4 And I would like to know, especially the last
5 line, where it looks like the Loes score goes up by
6 greater than four in 17 patients in the pooled TP-102
7 and TP-104 score compared to only six patients, or 20
8 percent, in the pooled HSCT scores. So can you comment
9 on that? That would be directed again to Dr. Duncan on
10 the clinical side.

11 **DR. JAKOB SIEKER:** Dr. Duncan, please.

12 **DR. CHRISTINE DUNCAN:** Thank you for that
13 question. Yes, we do see change in the Loes score --
14 oh, sorry. Yes, if you please bring up slide one. So
15 there are changes in the Loes score, but I think one of
16 the important things to know about that is when we look
17 at the Loes score and see how that was reflected in the
18 NFS score, the changes on the MRI are not reflected in
19 changes in the neurologic function scores of the
20 patient. So we do expect to see some change in Loes
21 score over time but really want to focus on the

1 clinical significance of that and the individual
2 patients.

3 **DR. BERNARD FOX:** So is your impression that
4 having more patients with a greater increase in Loes
5 score is not clinically significant? Did I
6 misinterpret that?

7 **DR. CHRISTINE DUNCAN:** In our patient
8 population that's correct.

9 **DR. BERNARD FOX:** And do you have an
10 explanation for why that would be different in the
11 patients who got the stem cell transplant?

12 **DR. CHRISTINE DUNCAN:** So I think that there
13 are differences, and particularly if you look at the
14 ALD-101 scores and the 102, of how close the MRIs are
15 being followed, and these are being followed very
16 closely across the study. And we did our best to
17 correlate those as carefully as we can.

18 And just one more point about the function in
19 the patient. If you could please bring up slide one,
20 so we can look to see the changes in the Loes score and
21 how that impacts the IQ across that and neurologic

1 functioning of the patients and don't see a significant
2 impact of those change in the Loes score on the
3 patients who have a change -- I'm sorry -- impacted the
4 neurologic function, the IQ, in the patients who had
5 changes in their Loes core.

6 **DR. BERNARD FOX:** And if you compared this to
7 the patients who had stem cell transplant, how would
8 that compare?

9 **DR. CHRISTINE DUNCAN:** I would expect to see
10 this quite similar. One of the challenges with the IQ
11 scoring is that that is not routinely done at every
12 center in the same way for the patients who are treated
13 with allogeneic stem cell transplant. And we certainly
14 wish that it was, but I think we were able to follow
15 the IQ scores much more robustly in our study because
16 we were paying such close attention to it because of
17 the importance of that outcome.

18 **DR. BERNARD FOX:** Thank you.

19 **DR. CHRISTINE DUNCAN:** Thank you.

20 **DR. LISA BUTTERFIELD:** All right. Thank you
21 very much. Let's move to Dr. Ott, please.

1 **DR. MELANIE OTT:** Yes, hello. I have a
2 question to Dr. Demopoulos. It might also cross over
3 to the discussion this afternoon, but I appreciate that
4 there is more surveillance being done to check for
5 malignancies in patients who have received transplants.
6 My question is what is done proactively? And my
7 concrete question is what happens with the HFCs once
8 they get transfused? What is being done as a quality
9 control here? How much time is there, and is there any
10 integration site sequencing done at that time?

11 **DR. JAKOB SIEKER:** Dr. Demopoulos.

12 **DR. LAURA DEMOPOULOS:** Thanks for that
13 question. You're right. We've paid a lot of attention
14 to how we can identify these cases and whether or not
15 there is a way for us to easily and proactively
16 identify patients at risk for the development of MDS.
17 Could I have slide one up please? You probably won't
18 be surprised that in a small sample size such as our
19 population and a small number of events that it was
20 very unlikely that we were ever going to identify
21 anything that clearly explained to us why these

1 particular children had these events.

2 And so you can see here a list of some of the
3 factors we looked at in groupings of patient
4 characteristics, baseline disease characteristics, drug
5 product characteristics, the treatment regimen, and
6 post-treatment factors. None of these had a
7 significant correlation with the development of MDS
8 versus not except for two. Those were the ones that I
9 called out in the main presentation, so that is time to
10 platelet engraftment, which was longer in two of the
11 three patients effected with MDS, and both 6 and 12
12 month measures of peripheral blood vector copy number,
13 which increased in patients who were effected with MDS.

14 So these factors, unfortunately, are post-
15 treatment measures, so they don't allow us to
16 prospectively identify patients at risk and consider
17 other treatment options. But they do potentially give
18 us a window into considering whether or not patients at
19 risk can be identified early. And that was one of the
20 features that I identified in the main presentation,
21 and that will be one component of the post-marketing

1 surveillance for this clinical complication.

2 **DR. MELINDA OTT:** Can you give us a brief idea
3 of what is done before transplantation with the
4 transfused HFCs?

5 **DR. JAKOB SIEKER:** Yes, I would like to ask
6 Dr. Shestopalov to come up and discuss the release
7 criteria we have the eli-cel drop product.

8 **DR. ILYA SHESTOPALOV:** Hello, I'm Dr. Ilya
9 Shestopalov. I'm the analytical product lead for eli-
10 cel. So, slide one up, please. To answer your
11 question, we have six potency assays as part of product
12 release, three of which specifically look at how well
13 we transduced the cells. And one key aspect of that is
14 vector copy number, which is measuring on average how
15 many copies per cell there are among the cells in the
16 drug product.

17 It's been theorized that a more -- higher
18 vector copy number would lead to more integrations,
19 which increases the possibility of then having
20 insertional oncogenesis. What we see in practice, as
21 Dr. Demopoulos mentioned, is that actually we find the

1 vector copy number's related to efficacy, so you need
2 sufficient vector copy number to produce enough ALTP
3 protein to treat the disease. But the products that
4 were given to the three patients that went on to have
5 MDS were actually right around the median of our
6 cohort.

7 Can I have slide three up, please? So, as you
8 can see, the three blue dots in the right are the
9 vector copy numbers for those products, and you can see
10 that right around the mean of our cohort. So it
11 doesn't actually bear out that higher vector copy
12 numbers lead to insertional oncogenesis. It points out
13 to it's more of a random event and patient-specific
14 factors are involved. And we'll be discussing that
15 this afternoon.

16 **DR. MELINDA OTT:** Thank you.

17 **DR. LISA BUTTERFIELD:** Thank you very much.

18 We'll now move to Dr. Shapero followed by Dr. DiPersio,
19 Dr. M, Dr. Roberts, Dr. Shah, and Dr. Keller. Lots of
20 questions.

21 **MR. STEVEN SHAPERO:** Yes. Hi, thank you. I'm

1 not a doctor, but that's okay. Is there any chance
2 that Dr. Eichler is still in the room? I have a
3 question for him.

4 **DR. JAKOB SIEKER:** Yes, he's in the room.

5 **MR. STEVEN SHAPERO:** Great. Okay. Great.
6 Thank you. My question is this. I know that in the
7 standard care allo-HSCT cases, when they give the
8 treatment, it often takes months, 12, 24 months before
9 we start to see improvement or the disease stops
10 progressing in these patients. I'm curious if in the
11 eli-cel trials we saw the same thing, or did it behave
12 differently with regard to that lag?

13 **DR. FLORIAN EICHLER:** Yes, very good question.
14 Clinically my impression is it's very similar, and so
15 we generally see following these kind of stem cell
16 transplantations where there's eli-cel or allogeneic
17 stem cell transplantation a rise in the Loes score over
18 time. But we also see diminishment of contrast
19 enhancement showing that this is now attenuation of the
20 active cerebral ALD form. And that seems to be
21 critical to our clinical judgement that this is now

1 effective attenuation of active disease.

2 **MR. STEVEN SHAPERO:** But it's similar between
3 the two techniques, yes?

4 **DR. FLORIAN EICHLER:** It is similar.

5 **MR. STEVEN SHAPIRO:** Thank you.

6 **DR. LISA BUTTERFIELD:** Terrific. Thank you.

7 Dr. DiPersio.

8 **DR. JOHN DIPERSIO:** Yeah. Thank you. So I
9 had a question for Dr. Duncan. Maybe just she'd like
10 to comment on this. But obviously, as a leukemia
11 physician and someone who focused on transplantation
12 immunology and having taken care of many transplant
13 patients -- and this is important for how the FDA looks
14 at the data -- the importance of a single treatment
15 providing benefit over a long period of time versus a
16 transplant which requires an enormous amount of ongoing
17 effort needs to be considered. That's the first thing.

18 And so, in the leukemia world, we actually
19 determine whether something's better or worse than
20 another treatment by using something called a Griffith
21 score, which is a combination of GvHD and relapse

1 disease. And this was really left out of your analysis
2 from the FDA side. I think it would be interesting to
3 look at that and compare. Obviously, in the gene
4 therapy arm there's not going to be any graft versus
5 host disease, and so that's going to be zero. But it
6 would be important to look at survival based on not
7 only progressive debilitation and problems, but also
8 with graft versus host disease acute and chronic.

9 Because sometimes you trade a little
10 diminishment in the Loes score by a lot of extra GvHD.
11 And so the life of a patient can actually be
12 dramatically worse. And so I think that's left out,
13 and that's a very important assessment that was not
14 included. I had another question about just -- I'll
15 ask them all at the same time -- just the issue of I
16 know there was no correlation between the vector copy
17 number and the incidence of MDS, but I'm wondering was
18 there also a correlation between the CD34 per kilogram
19 infused and the platelet recovery?

20 In other words, were the three patients that
21 were really slow in their recovery, did they get the

1 lowest number of CD34 cells? And I guess I assume that
2 the MDS cases came from both the (inaudible)
3 populations, but I'd like to hear someone comment on
4 that. And the final issue is mobilization was always
5 with G, or was it with G and plerixafor for some of
6 these patients and not for others? And I'll just
7 listen for now.

8 **DR. CHRISTINE DUNCAN:** Thank you. There's
9 some really important points. I think I do want to
10 talk about the first one, and I think you've made an
11 excellent point about sort of the quality of that
12 survival for a patient. And so survival, obviously,
13 the most important thing, but we need to think about
14 the survival and what that quality is. And the way I
15 think about this is that we have a neurologically
16 devastating fatal disease and two imperfect therapies.
17 Then we have to try and weigh those risks and the
18 benefits of each of those.

19 And so, when we think about autologous stem
20 cell transplant and we think about that graft failure
21 rate of the primary graft failure rate, we're talking

1 about around a 40 percent survival. So patients with
2 primary graft failure after allogeneic stem cell
3 transplant. And just a little bit of an aside to
4 comment on that, with all respect to the FDA reviewers,
5 neutrophil graft failure is not defined by the use of
6 GCSF and autologous stem cell transplants. GCSF is the
7 standard for all patients, or almost all patients,
8 particularly those with non-malignant diseases. And so
9 I don't think that targeting our patients with graft
10 failure is in fact accurate.

11 So if you look at an autologous patient who
12 has graft failure, needing a second transplant, has
13 primary graft failure, that survival rates around 42
14 percent. So I think that that's an issue. And then I
15 think we have to think about graft versus host disease
16 because we have become very good at keeping patients
17 alive. We know how to support patients, but the
18 quality of that survival really matters. So just to
19 bring up the slide one, please. So just, in full
20 disclosure, these are pediatric allogeneic stem cell
21 transplant patients who have graft versus host disease.

1 So this is not specific to ALD patients
2 because we really needed to look at a large number of
3 patients. So this is about 1,500 patients. And if you
4 look at the top line this is the risk of mortality, so
5 non-relapsing mortality, which even is occurring 10, 15
6 years later with graft versus host -- patients who had
7 acute graft versus host disease. If you have Stage 3,
8 Grade 3 -- excuse me, Grade 3 acute GvC or Grade 4,
9 you're non-relapse mortality at 5 and 10 years is
10 significantly higher than other patients. And that is
11 matched unrelated donors and that is mismatched
12 unrelated donors making up the bulk of it.

13 And not only does that graft versus host
14 disease exist, those are patients who have their
15 overall development effected by things. They are
16 pediatric patients who are on steroids for many years
17 in some cases, which can effect organ function and
18 other things. And then just please, in slide one, this
19 is a slide from a pivotal study run by Smita Bhatia,
20 the bone marrow transplant survivor study, where we
21 look at patients who received allogeneic stem cell

1 transplant and long-term outcomes.

2 And we see that patients who receive,
3 especially allogeneic transplant, long-term have
4 greater functional impairment and activity impairment
5 and poorer general health compared to their siblings
6 and then compared to patients who received standard
7 chemotherapy for oncologic diseases that don't have
8 transplant. So it is not just whether you're alive or
9 dead. It is what your impairment is like, what your
10 function is like, what your quality of your life is
11 like.

12 And so I think we really have to think about
13 those questions, and I do appreciate the opportunity to
14 do so. I think your second question -- I want to make
15 sure I get these all, or actually I'm just going to go
16 to your last one quickly. For the mobilization piece,
17 in ALD-102 all patients were mobilized with GCSF with
18 the opportunity to use plerixafor. Plerixafor is not
19 mandated across -- in ALD-102. In ALD-104, all
20 patients received GCSF, and all patients received
21 plerixafor across the study.

1 And you were absolutely right, the MDS cases
2 did occur across those studies. So the first two
3 patients who were diagnosed with MDS received busulfan
4 fludarabine conditioning. And the last patient we
5 spoke about received busulfan cyclophosphamide, so all
6 patients receiving myeloablative conditioning, which
7 you also have to think about in regards to the late and
8 longer-term effects. And then, finally, just to
9 comment that there's been a lot -- and there are
10 probably others in the room who would like to comment
11 on this as well -- trying to identify those features
12 related to the vector copy number and the platelet and
13 grafting. And anything that we can highlight from
14 those --

15 **DR. LISA BUTTERFIELD:** And I'm going to ask to
16 keep this very short because we've got the afternoon.

17 **DR. CHRISTINE DUNCAN:** Yep. Okay. I'm sorry.
18 Just to say we were not able to identify anything
19 specific to the product related to vector copy number
20 and the development of MDS. I apologize.

21 **DR. LISA BUTTERFIELD:** Thank you very much.

1 **DR. CHRISTINE DUNCAN:** I believe those were
2 all four. Thank you.

3 **DR. LISA BUTTERFIELD:** We're going to move to
4 Dr. M and then Dr. Robert Shaw and Dr. Keller.

5 **DR. JAROSLAW MACIEJEWSKI:** Can you guys hear
6 me?

7 **DR. LISA BUTTERFIELD:** Yeah.

8 **DR. JAROSLAW MACIEJEWSKI:** It's a little bit
9 not obvious. Okay.

10 **DR. LISA BUTTERFIELD:** We can't see you, but
11 we can hear you.

12 **DR. JAROSLAW MACIEJEWSKI:** Thank you very much
13 for that presentation. The allogenic bone marrow
14 transplant does have variability in terms of --
15 variability in terms of the quality of transplant,
16 different possibilities as to how to set up a bone
17 marrow transplant in the setting, institutional
18 differences.

19 Can it be that -- and the fact that the
20 patient (inaudible) over several years, can it be that
21 under current condition the most recent patients on

1 recent transplant or a modified allo regimen or use of
2 (inaudible) or use of new FDA approved drugs for GvHD
3 would improve the high risk transplant that has been
4 used as a comparator group? This one question that I
5 have.

6 And I have another question related to the
7 fact that the material that you provided has several
8 cases of integration site into MECOM EV1, which do not
9 fulfill criteria understand of MDS but would be
10 considered something that either (inaudible) in two of
11 the (Inaudible) if it was equivalent. Obviously, it's
12 not a natural mutation because two of them have a sort
13 of mild single lineage cytopenia, the low platelet
14 count. If we add this, how do you assess the risk of
15 this being a (inaudible) teacher of the myelodysplastic
16 syndrome, which is of course a chronic --

17 **DR. LISA BUTTERFIELD:** And we're going to
18 again hold the MDS --

19 **DR. JAROSLAW MACIEJEWSKI:** Oh, thank you.

20 **DR. LISA BUTTERFIELD:** -- discussion to the
21 afternoon.

1 **DR. JACKOB SIEKER:** Okay. So we can answer
2 the first question about how the ALD transplant
3 population compares to the experiences of today. And I
4 would like to ask Dr. Duncan to answer that.

5 **DR. CHRISTINE DUNCAN:** Thank you for the
6 question. One thing just to remind the panel is that
7 for ALD-103 trial the last patients enrolled in that,
8 that trial was closed in 2019. And so we did attempt
9 to have a more contemporaneous population to look at,
10 so that is one thing to consider. It just -- in my
11 experience as a transplanter, there are things that
12 have certainly improved over time, many of our
13 supportive care medicines, our ability to treat graft
14 versus host disease. But unfortunately, we haven't
15 seen those outcomes change really what we're seeing in
16 ALD.

17 So I think the experience that we present in
18 the study is very reflective of what we see currently.
19 That is one point to that. And I think the other
20 question about haploidentical transplant, there's been
21 a lot of discussion, much movement in the

1 haploidentical transplant world in recent years. And
2 so, just for those who aren't familiar, that's using
3 someone who is half-matched, typically a related donor,
4 as a transplant with certain modifications done either
5 to the cellular product or to the patient after
6 transplant.

7 I think that that is encouraging for many
8 diseases. Unfortunately, the data has not turned out
9 as well as we would hope for ALD. Albeit there are
10 limited studies, but the largest study that was done,
11 which is of nine patients -- and that's partially
12 because of the small number of patients who are treated
13 -- showed a 45 percent graft failure rate. And so we
14 have not found haploidentical transplant to be ideal in
15 this disease and certainly with high risks also
16 associated with infection and other things as we go
17 forward.

18 And so I think the other challenge, obviously,
19 with haploidentical transplant is in this genetic
20 disease your availability of donors is actually cut
21 probably pretty much in half because we're unlikely to

1 use a mother who is heterozygous mutation as a donor.
2 So haploidentical, great for many diseases, but not
3 really ideal for ALD. Thank you.

4 **DR. LISA BUTTERFIELD:** Thank you very much.

5 Dr. Roberts.

6 **DR. DONNA ROBERTS:** Yes, thank you. I had a
7 follow-up question from Dr. Fox for Dr. Elenburg's
8 slide 27 as well and the discrepancy between the
9 increase in Loes score and neurologic function. And my
10 question is, besides Loes scores, were the MRIs
11 evaluated at all for size and lesion volume over time?

12 Hello? Can you hear me?

13 **DR. LISA BUTTERFIELD:** Yes, that was for Dr.
14 Elenburg.

15 **DR. DONNA ROBERTS:** For the sponsor.

16 **DR. JAKOB SEIKER:** Okay. This is a question
17 for, yes -- so I would like to ask Dr. Raymond to
18 comment on the relationship to Loes score and NFS.

19 **DR. GERALD RAYMOND:** So, good afternoon. I'm
20 Dr. Gerald Raymond. I'm professor of neurology and
21 genetic medicine at Johns Hopkins, and I've been in the

1 ALD field for over 30 years. So the question is
2 whether we use volume metrics compared to the Loes
3 score, and have we found that to be useful?

4 And the honest answer is at this point, volume
5 metrics, while being an additional feature, have not
6 been shown to be of any additional benefit to the Loes
7 score as a simple measure of measuring disease burden.
8 Unfortunately, we have looked at a variety of research
9 methods over time, and I've been involved in many of
10 those studies. And once again, the gold standard still
11 is the Loes score using a T2 flare weighted imaging.

12 **DR. JAKOB SEIKER:** Dr. Raymond.

13 **DR. LISA BUTTERFIELD:** Thank you. We've got
14 time for two more questions. Dr. Shah and then,
15 finally, Dr. Hawkins.

16 **DR. NIRALI SHAH:** Hi, so I have -- can you
17 hear me okay?

18 **DR. JAKOB SEIKER:** Yes.

19 **DR. NIRALI SHAH:** I have two questions. One,
20 I was struck by this asset with the ALD-102 Study --
21 you didn't see any events related to (inaudible) in

1 almost seven years. But you had two events in the ALD-
2 104 Study, and I was just wondering if anything had
3 changed between the two studies that could have
4 possibly lead to that increased incidence?

5 Particularly since the follow up period for
6 that one is shortened. And then the follow-up to that
7 is for the clinical team, what incidence of MDS do you
8 think would be acceptable for this type of population
9 given what you're seeing?

10 **DR. JAKOB SEIKER:** I would like to ask Dr.
11 Demopoulos to review our current understanding of the
12 three MDS cases that occurred in the two studies, ALD-
13 102 and 104. Dr. Demopoulos.

14 **DR. LAURA DEMOPOULOS:** Thanks. That's an
15 important topic. With regard to the distribution of
16 the patients with MDS, yes, two were in the 104 Study,
17 and one was in the 102 Study. We spent quite a lot of
18 time and a lot of effort with our statistical
19 colleagues attempting to determine whether or not any
20 differences on the patient characteristics or treatment
21 characteristics between the two studies might have in

1 any way influenced the uneven distribution, albeit it's
2 three patients. So it was always going to probably
3 break unevenly some way.

4 The short answer is we were not able to
5 identify anything that appeared to be a so-called
6 smoking gun that would have helped us to say treatment
7 or patient factors could be adjusted in some way that
8 would allow for risk mitigation. As to your second
9 question regarding what level of MDS is acceptable, I
10 don't actually think there's any great answer to that
11 question. I think we've seen from many of the
12 presentations and some of the comments that the medical
13 need for patients certainly without good donor options
14 and even among those who may have some degree of
15 matched unrelated donor option -- the medical need is
16 still very high.

17 And the early mortality rate that we've seen
18 is still in the range of about 10 to 20 percent in the
19 proposed indication. Our current data estimate that
20 our MDS event rate now is in about five percent of
21 patients, and so, we still see that our current MDS

1 rate compares favorably to the early fatalities that
2 occur in patients having transplants who don't have a
3 matched sibling donor.

4 **DR. NIRALI SHAH:** Thank you.

5 **DR. LISA BUTTERFIELD:** All right. A very
6 short final question from Dr. Hawkins, please.

7 **DR. RANDY HAWKINS:** Thank you very much. To
8 Dr. Duncan a comment and a question. Thanks for your
9 presentation, including a brief presentation on the
10 effects of quality of life for family and patients, the
11 disparity of needs and availability of certain ethnic
12 groups, such as African Americans and Hispanics. In
13 your shared decision making, do families realize that
14 MDS is cancer?

15 I did hear you say -- give some response to
16 that. And two of your cases I viewed developed MDS. I
17 don't know how long it's been, how much time's elapsed,
18 but what type of allograft did they receive? Do
19 you have a status update? And finally, those who
20 develop seizures (audio skip).

21 **DR. CHRISTINE DUNCAN:** I lost a little bit of

1 the question on the seizures. Sorry, go ahead, I'm
2 sorry. Please, go ahead.

3 **DR. RANDY HAWKINS:** How well are the seizures
4 controlled in those five patients who developed
5 seizures as an adverse event?

6 **DR. CHRISTINE DUNCAN:** Okay. So kind of the
7 first question, so when we do talk to the families
8 about MDS, we do explain that this is considered a
9 pediatric cancer. And we've spoken about how those
10 patients need to be treated. Both boys received
11 allogeneic transplants. One child, just speaking
12 about, again, slide one and that availability of
13 unrelated donors, is a patient who was Hispanic who had
14 absolutely no unrelated donors available in the
15 registry. And so we needed to use that patient's
16 father as a donor -- a haploidentical donor, which
17 obviously has some concerns, but there were no other
18 options for that patient.

19 The second patient had -- that I've treated
20 received an allogeneic stem cell transplant with an
21 imperfect donor because they did not have a matched

1 family donor available as well. And so I'm not sure if
2 someone else would like to comment on the seizures. I
3 think Dr. Raymond would like to comment on the
4 seizures, but we do talk to the families. We do
5 explain a transplant consent, a gene therapy consent.
6 They're pretty brutal. And we get very honest about
7 the risks, the benefits, and trying to characterize
8 those for the individual patient as best we can.

9 **DR. RANDY HAWKINS:** Thank you. And seizure
10 control in a neurological disease.

11 **DR. GERALD RAYMOND:** Can I have slide one up?
12 So once again, seizures are a complicated thing in a
13 neurologically injured patient, and our patients have a
14 variety of reasons to have seizures. However, when we
15 look at the seizure outcomes and the five serious
16 seizure disorders -- or the five serious seizures, the
17 seizures have generally been singular or well-
18 controlled. And so they have not been medically
19 refractory, and they are controlled in certain
20 situations with medication.

21 **DR. RANDY HAWKINS:** Thank you very much.

1 **DR. LISA BUTTERFIELD:** All right. Thank you
2 very much for an important Q&A session. We now are
3 going to take what will serve as a lunch break. We
4 will come back though on time at the top of the hour.
5 So a very short, 20 minute lunch break please. Thank
6 you very much.

7 **MR. MICHAEL KAWCZYNSKI:** Hold on, everybody.
8 Studio, take us to clear.

9

10 **[BREAK FOR LUNCH]**

11

12 **OPEN PUBLIC HEARING**

13

14 **MR. MICHAEL KAWCZYNSKI:** All right. And
15 welcome back from our break to the Open Public Hearing.
16 I'm going to hand it back to our Chair, Dr. Lisa
17 Butterfield, and our DFO, Christina Vert. Take it
18 away.

19 **DR. LISA BUTTERFIELD:** Thank you very much.
20 Welcome back. Welcome to the Open Public Hearing
21 session. Please note that both the Food and Drug

1 Administration, FDA, and the public believes in a
2 transparent process for information gathering and
3 decision-making.

4 To ensure such transparency at the Open Public
5 Hearing session of the Advisory Committee meeting, FDA
6 believes that it's important to understand the context
7 of an individual's presentation. For this reason, FDA
8 encourages you, the Open Public Hearing speaker, at the
9 beginning of your written or oral statement to advise
10 the Committee of any financial relationship that you
11 may have with the sponsor, its product, and, if known,
12 its direct competitors.

13 For example, this financial information may
14 include the sponsor's payment of expenses in connection
15 with your participation in this meeting. Likewise, FDA
16 encourages you at the beginning of your statement to
17 advise the Committee if you do not have any such
18 financial relationships. If you choose not to address
19 the issue of financial relationships at the beginning
20 of your statement, it will not be -- it will not
21 preclude you from speaking. So with that, let me turn

1 it over to Christina Vert for the Open Public Hearing.

2 **MS. CHRISTINA VERT:** Thank you, Dr.

3 Butterfield. Before I begin calling the registered

4 speakers, I would like to add the following guidance.

5 FDA encourages participation from all public

6 stakeholders in its decision-making process. Every

7 Advisory Committee meeting includes an Open Public

8 Hearing, OPH session, during which interested persons

9 may present relevant information or views.

10 Participants during the Open Public Hearing

11 session are not FDA employees or members of this

12 Advisory Committee. FDA recognizes that the speakers

13 may present a range of viewpoints. The statements made

14 during this Open Public Hearing session reflect the

15 viewpoints of the individual speakers or their

16 organizations and are not meant to indicate Agency

17 agreement with the statements made. Okay. Now we'll

18 go on with the first speaker. Amy Waldman.

19 **DR. ADELINE VANDERVER:** Hello. This is

20 Adeline Vanderver at the Children's Hospital of

21 Philadelphia.

1 **DR. AMY WALDMAN:** And this is Amy Waldman,
2 also at the Children's Hospital of Philadelphia.

3 **DR. ADELINE VANDERVER:** I don't have any
4 disclosures with bluebird bio to present. Although
5 bluebird bio has, in the past, presented -- support
6 educational activities at the Children's Hospital of
7 Philadelphia related to leukodystrophy education.

8 **DR. AMY WALDMAN:** And I have consulting fees
9 for data review with bluebird bio.

10 **DR. ADELINE VANDERVER:** We are from the
11 Leukodystrophy Center of Excellence in the Children's
12 Hospital of Philadelphia, which I direct.

13 **DR. AMY WALDMAN:** And I am the medical
14 director for our clinical program at the Children's
15 Hospital of Philadelphia. And today we are speaking
16 about diversity in X-linked adrenoleukodystrophy. Next
17 slide, please.

18 We would like to share our collective
19 experience in our leukodystrophy program, taking care
20 of newborns with ALD. Our current population is over
21 40 affected children with pre-symptomatic ALD who are

1 identified predominantly through newborn screening,
2 many of them through Pennsylvania and New Jersey, and
3 are aging into high risk for cerebral ALD. Next slide,
4 please.

5 One of CHOP's core values -- you can keep
6 going to the next slide, please -- one of CHOP's core
7 values is reducing health disparities. And we are
8 committed to this through our Center for Outcomes
9 Research; the National Provider Services, which has
10 provided education throughout the U.S. and
11 internationally; the Global Leukodystrophy Initiative,
12 led by Dr. Vanderver, providing outreach for patients
13 and physicians.

14 And of note, our leukodystrophy program has a
15 catchment area that is mostly outside of the tri-state
16 area. Seventy-nine percent of our patients are not
17 from our local tri-state region. And in our experience
18 availing our patients of our bone marrow transplant
19 collaborative, many of our patients have not had an
20 ideal match. Next slide, please.

21 We would like to just review the data, which

1 you have already discussed I'm sure, this morning with
2 Dr. Miller et. al., on outcomes related to transplants.
3 Next slide, please. And in this -- one of the papers
4 looking at the outcomes, over 30 -- oh, excuse me, only
5 30 percent of children had a related marrow transplant.
6 And transplant related mortality is higher, as everyone
7 knows, among unrelated donors, perhaps in part due to
8 higher conditioning needed to save engraftment. Next
9 slide, please.

10 I was thrilled to hear this morning some
11 discussion about the health disparity and the odds of
12 finding a match. Next slide, please. As was already
13 discussed, with our African-American population only
14 having a 29 percent chance, and it increases, as you
15 see here. Next slide, please. Racial disparities in
16 transplants has been studied. And this is not specific
17 to ALD. Next slide, please.

18 As you can see here, transplant related
19 mortality is higher among ethnic minorities,
20 particularly African-American patients shown on the
21 right and our Asian population shown on the left. Next

1 slide, please. And in thinking about survival and
2 functional outcomes in boys -- I'm sure this data has
3 also been published. This data was collected from five
4 study center in the U.S., as you know, from Minnesota,
5 Kennedy Krieger, North Carolina, Duke, and of course,
6 France where the population of treated and untreated
7 was still about 64 percent Caucasian or 70 percent in
8 the untreated arm.

9 So in conclusion, diversity is present among
10 our ALD families. Historically, the likelihood of
11 finding an ideal unrelated donor match is lessened in
12 under-represented minorities. Newborn screening is
13 agnostic to race and ethnicity. Transplant related
14 mortality increases among our unrelated donors and
15 ethnic minorities.

16 And we ask the FDA to please consider health
17 disparities -- and I'm glad to hear that you have
18 already discussed it a bit this morning -- in that not
19 every young boy will have an eligible donor for a
20 standard autologous transplant. Thank you for the
21 opportunity to speak today.

1 **MS. CHRISTINA VERT:** Thank you. Next speaker
2 is Josh Bonkowsky.

3 **DR. JOSH BONKOWSKY:** Thanks. This is Josh
4 Bonkowsky. I'm speaking on behalf of our
5 Leukodystrophy Center and our transplant teams here at
6 the University of Utah and Primary Children's Hospital
7 which is part of Intermountain Healthcare. Next slide,
8 please.

9 So, we provide care for the state of Utah as
10 well as the Intermountain West. Next slide, please.
11 This is a very large geographical area. It's about
12 400,000 square miles that we provide centralized care
13 for. Even though it's a less population dense area
14 because of the large geographic area, it still ends up
15 being responsible for care of about 1.7 million
16 children in this catchment area. Next slide, please.

17 Historically, this region has been obviously a
18 rural area, but it's now -- this region has the fastest
19 growing states in the United States including Idaho,
20 Nevada, Utah, and Arizona. And the population is
21 shifting significantly with this population growth and

1 now has almost a quarter minority population in these
2 states. Next slide, please.

3 In this region there are urban areas so, for
4 example, where the hospital is located is a urban area,
5 but much of the region is what's classified as rural or
6 frontier. And many of the patients that we take care
7 of come from these very far outlying regions and have
8 to travel significant distances and times to reach care
9 with us. Next slide, please.

10 So, the hospital itself then becomes a
11 referral center for all of these patients who need any
12 sort of specialty care, including specifically in this
13 context for ALD, adrenoleukodystrophy. For any kind of
14 specialized care related to ALD that means that for any
15 kind of care they're having to travel often more than
16 or up to 500 miles to reach us and that -- to be able
17 to access both their leukodystrophy care and the
18 transplant teams. Next slide, please.

19 So, if we look back over about the past decade
20 of care for ALD patients, including for patients who
21 have cerebral ALD, we identify these patients through

1 several sources. In some cases they have known family
2 history and we've been able to follow them for those
3 reasons. There's now newborn screening occurring in
4 several states including Utah and Idaho in this region
5 and then, of course, if they present with new symptoms,
6 so, for example, new cerebral ALD symptoms. Next
7 slide, please.

8 So, these are the patients that we've
9 statistically had with cerebral ALD in the past five
10 years. So, the first patient presented with new
11 cerebral ALD symptoms. He was too late to qualify for
12 transplant, and he died basically a year after his
13 presentation. A second patient in 2017 also presented
14 with new cerebral ALD, again, too late for a transplant
15 and died two years later.

16 The third patient was known since birth
17 because of family history. He was being monitored.
18 When he developed cerebral ALD, he did have an
19 allotransplant. And as of this time, most recently,
20 he's doing great. Totally normal neurologic exam, in
21 school -- a real success.

1 In 2020, our fourth patient developed new
2 symptoms of cerebral ALD. He did get the ex vivo gene
3 therapy transplant program through Boston. We're
4 following him here currently. He also looks great. I
5 just saw him a few weeks ago -- totally normal.

6 At this point, in 2022, we're following five
7 boys at risk for developing cerebral ALD with
8 monitoring, both MRIs and labs. Next slide, please.

9 So, in conclusion, we're often receiving these
10 ALD patients from rural and other underserved
11 communities. As part of their care, transplant,
12 whether it's allo or ex vivo gene therapy, is a
13 critical tool for their treatment. We -- having the
14 availability of ex vivo gene therapy is really critical
15 for us as we discuss treatment options for families.

16 We, of course, discuss risks. But as you can
17 see, with our experience the alternative to treatment
18 is worsening and often leading to death in the patients
19 we take care of. Thank you very much for your time.

20 **MS. CHRISTINA VERT:** Thank you. Okay. Our
21 next --

1 **MR. BENJAMIN KOCH:** I'm --

2 **MS. CHRISTINA VERT:** -- speaker is -- go
3 ahead.

4 **MR. BENJAMIN KOCH:** I'm sorry.

5 **MS. CHRISTINA VERT:** Next speaker is Benjamin
6 Koch. Go ahead.

7 **MR. BENJAMIN KOCH:** How's it going? My name
8 is Benjamin Koch. I'm 19-years-old. I had a stem cell
9 transplant to mitigate adrenoleukodystrophy when I was
10 8 years old. And I'm going to be talking about that.

11 So, I -- my story starts with my brother. My
12 brother was diagnosed before me. And I was diagnosed
13 early because my parents were trying to see if I or
14 either of my siblings were donors for him. And in
15 that, they discovered that I also had
16 adrenoleukodystrophy. On the Loes scale, my brother
17 was 10 and I was a one. So I was very lucky that mine
18 was discovered early on even though his was
19 significantly more progressed.

20 My parents, mom and dad, both moved the two of
21 us down to North Carolina to Duke to go get stem cell

1 transplants. We had two young sisters that stayed in
2 New York. And we were moved from school, removed from
3 friends, removed from everything. We had to have a lot
4 of preliminary checks, checkups, and testing. And then
5 we were both admitted to the hospital. He was about 20
6 days ahead of me.

7 We had 10 days of intense chemotherapy to
8 essentially wipe out our immune systems. That was
9 probably the hardest 10 days of my life, like nothing I
10 will ever, ever experience. You know, I would not wish
11 it on my worst enemy. I remember struggling. You
12 know, we had to re-learn how to walk. I remember it
13 being difficult just to wake up and find the strength
14 to have a day in the morning. That was really
15 difficult. I couldn't really be a kid.

16 I was in the hospital for two months. But the
17 first milestone that -- after engrafting that was a big
18 one was a hundred days. But once you get to a hundred
19 days it's not much of a celebration because it's like
20 are we really going to be able to do this for another
21 200-plus more days to get to one year. One year is

1 when you're really able to resume a new life.

2 Once becoming outpatient, I had two places I
3 could go -- the hospital or my apartment. I had to
4 wear masks everywhere. I could not be around anybody
5 that was not wearing gloves and a mask. We had to wipe
6 down food and groceries. I couldn't eat fast food that
7 wasn't prepared in the last 15 minutes. We had to be
8 careful. And careful was really, really like --
9 careful is saying it lightly.

10 We were concerned about graft versus host
11 disease. We were concerned about really just being
12 able to live. My parents had to administer medicine to
13 us for a year being concerned about, you know, like
14 anti-viral, anti-fungus. Being -- just -- again, being
15 able to live (audio skip).

16 **MS. CHRISTINA VERT:** Benjamin?

17 **MR. MICHAEL KAWCZYNSKI:** I think he lost his
18 audio. We will -- I will try to bring him back to
19 finish up. Let us go to the next one at the moment.
20 And we will go to --

21 **MS. CHRISTINA VERT:** Okay.

1 **MR. MICHAEL KAWCZYNSKI:** Is that all right?

2 **MS. CHRISTINA VERT:** Yeah, that's fine. Go
3 ahead, Kirsten Finn.

4 **MS. KIRSTEN FINN:** My name is Kirsten Finn.
5 I'm the mother of a boy who was diagnosed with ALD at
6 age four, and we had to intervene immediately. We
7 experienced significant barriers to accessing care for
8 our son. In fact, our son almost did not make it to
9 treatment because of these barriers. Thinking back on
10 that time fills me with a crippling fear that I cannot
11 shake to this day.

12 It is a devastating fact that many boys will
13 continue to be diagnosed in a manner similar to our
14 son, who will require immediate intervention. And some
15 will never make it to treatment and will be condemned
16 to the cruelest of fates, with their parents having to
17 watch their suffering and deterioration, powerless to
18 stop it. No parent should ever be told they have to
19 take their child home to slowly deteriorate and die
20 when a successful and qualified treatment is available.

21 An ALD diagnosis is terrifying. To be told

1 your child is facing a silent killer, one that could
2 cruelly and savagely rob him of every functional
3 ability he has before it takes his life, is deeply
4 traumatic. The mere memory of that moment can stop me
5 dead in my tracks, unable to catch my breath. The
6 emotional pain and anticipatory grief I experienced was
7 so intense and deeply visceral that I quite simply
8 wanted to crawl out of my own skin. I would have
9 endured any amount of physical pain to not have to
10 experience that emotional trauma.

11 This is not something I have to explain to any
12 ALD parents. The fear and devastation caused by this
13 disease instinctively binds us as a community. No
14 words are needed. The only thing that allowed us to
15 endure was knowing we had a path towards treatment with
16 an expert physician who understood our child's disease.
17 And this gave us the hope we needed to move forward.
18 Devastatingly, many children will not be able to find a
19 suitable match on the registry or may have complicated
20 medical factors and co-morbidities to consider. Both
21 of these patient populations must have options

1 available to them.

2 The physicians involved in treating ALD
3 compassionately and directly communicate the risks
4 involved in such a way that there can be no room for
5 confusion or misunderstanding. So many questions and
6 fears came crashing down upon me as we considered what
7 our options might be. What if I make the wrong choice?
8 Am I choosing an option that will cause my son
9 additional suffering? Am I making a choice that will
10 hasten my son's death? There must be choice.

11 I recall conveying to our specialist how truly
12 excruciating it was to be making this decision for our
13 son. He was only four, and I would have to decide
14 something that would forever alter the course of his
15 life and that could potentially result in his death.
16 However, I also told him that if I was four and I was
17 facing an insidious, relentless monster of a disease
18 like ALD that I would want him to get in there and take
19 it out. And I will never regret it.

20 And I can tell you in honesty today that if
21 our son could not find a match on the registry and that

1 gene therapy was our only option, that knowing all of
2 the risks, we would proceed to treatment and we would
3 not look back. The alternative to no treatment is
4 simply not acceptable.

5 It would be exceedingly difficult, if not
6 impossible, to find a patient population more
7 excruciatingly and acutely aware of the risks involved
8 in the treatment options available to us. The moral
9 injury of not having this life saving option to
10 patients, parents, and providers alike can neither be
11 overlooked nor understated.

12 One ALD mother of a boy who could not make it
13 to transplant, who also had a child who beat childhood
14 cancer, confided to me once. She said, I wish it was
15 cancer. At least with cancer you can fight.

16 As you consider how to proceed on this matter,
17 I implore you to consider how you would feel and what
18 you would do if your child were facing this disease and
19 gene therapy, the only option you had, was withheld.
20 The right to refuse treatment will always be there.
21 Parents must have the right, with full understanding of

1 the risks involved, to choose. Anything less condemns
2 these children to a life of severe disability and
3 suffering. It is a death sentence. Thank you.

4 **MR. MICHAEL KAWCZYNSKI:** Christina, we do have
5 --

6 **MS. CHRISTINA VERT:** Yeah?

7 **MR. MICHAEL KAWCZYNSKI:** -- we do have
8 Benjamin back.

9 **MS. CHRISTINA VERT:** Okay. Benjamin, why
10 don't you go ahead and finish your statement.

11 **MR. BENJAMIN KOCH:** Yeah. I just wanted to
12 wrap it up by saying my life with ALD was incredible.
13 I had spent a year isolated from all people. I spent a
14 year suffering. I had to watch my brother die in front
15 of my eyes because, A, his was a lot more progressive,
16 but, B, because transplant was the only option.
17 Transplant takes a long time to happen.

18 That was the biggest part for me. I wish --
19 as I said, I would not wish this on to my worst enemy.
20 And even though I'm never going to forget it, it's
21 something where -- the struggle is the one thing that

1 I'm going to remember.

2 **MS. CHRISTINA VERT:** Thank you. Thank you for
3 sharing. Next speaker, Jennifer Mahoney.

4 **MS. JENNIFER MAHONEY:** Hi. My name is
5 Jennifer Mahoney, and I live in Glen Head, New York
6 with my husband, John, and our daughter, Ava, and our
7 son, Colin.

8 Ever since I was a child, we talked about this
9 mysterious walking disease that my uncle and my mother
10 both had. It was something that developed in their
11 forties and seemed to be progressively getting worse.

12 My uncle was more severely affected by this walking
13 disease, as we referred to it as, but was younger than
14 his sister, my mother. He had been in Vietnam, and
15 after years of unsuccessful attempts at a true
16 diagnosis they concluded it might have been from Agent
17 Orange or some sort of cerebellum pressure on his
18 nerves.

19 They did not answer a lot of his unanswered
20 symptoms that gradually took away his ability to play
21 tennis, play golf, then general walking ability. He

1 went from a walker to a wheelchair for long distances
2 to a motorized scooter all the time over the course of
3 30 years.

4 It was not until Colin, my son, was born in
5 2016 that this mysterious walking disease would be
6 diagnosed after all these decades. Nine days after
7 Colin was born, we got the call that something on his
8 newborn screening came up, and it was called ALD. Once
9 I looked it up and saw what the symptoms were, I knew
10 right away that this was what my mom had and -- this is
11 what my mom and uncle had been suffering from.

12 It was probably the worst day of my life, and
13 everything seemed to be crashing down around me.

14 Through the support of fellow ALD moms that I was able
15 to get in touch with, and then as well our neurologist
16 specialist Dr. Eichler at Mass General, we eventually
17 began to see the progress of the treatments for
18 cerebral ALD, which included a gene therapy trial. I
19 was introduced to families that had been given this
20 amazing opportunity for their child and saw how great
21 most of the boys were doing for years after.

1 This potential treatment that was waiting for
2 FDA approval was the reason we were able to be able to
3 enjoy life again and see the hope in our son's future.
4 With a traditional stem cell transplant there are major
5 risks involved for those without a perfect sibling
6 donor match. The graft versus host risk can be life
7 threatening and continue for the rest of their lives.
8 With gene therapy we didn't have to worry about the
9 potential issues or drawbacks because they use their
10 own stem cells.

11 I know obviously, as well as you, that there
12 have been a few children that have developed some
13 complications with this treatment. However, the
14 majority of boys are thriving and living a life that
15 would not be possible without this gene therapy. We
16 need alternative treatments that will save all of our
17 son's lives. And bluebird's treatment is doing that.
18 It would be a tremendous setback for the entire ALD
19 community if this therapy was not available to give our
20 boys the best possible outcomes in life.

21 **MS. CHRISTINA VERT:** Thank you. Next speaker,

1 Miranda McAuliffe.

2 **MS. MIRANDA MCAULIFFE:** Thank you. My name is
3 Miranda McAuliffe. My son was diagnosed with X-linked
4 adrenoleukodystrophy at birth thanks to the New York
5 State Newborn Screening Program and the passing of
6 Aidan's Law in 2013. He is now six years old and
7 currently asymptomatic. He has blood work done every
8 six months to check for adrenal insufficiency and he
9 has MRIs of the brain done every six months to monitor
10 for cerebral ALD. While we can see that his adrenals
11 are affected through his lab results, he has not yet
12 needed medical intervention.

13 As scary as it was receiving this diagnosis
14 when my son was 12 days old, I soon realized that the
15 knowledge of this disease at birth is a gift.
16 Treatment is most effective when given at the earliest
17 signs of the disease, and his monitoring protocol
18 allows for detection before symptoms are likely to
19 arise. I am so grateful for the screening and grateful
20 for the medical technology that allows our family to
21 stay one step ahead of ALD.

1 If my son develops the cerebral form of ALD in
2 childhood, our family will have limited options. You
3 see, my son is an only child. In addition to having
4 ALD myself, I also have primary ovarian failure.
5 Growing our family would have been another healthcare
6 struggle that quite frankly my husband and I did not
7 feel equipped to tackle emotionally or financially.
8 And so our son will never have a match sibling donor if
9 he is ever recommended for the treatment of cerebral
10 ALD. This is a burden that weighs heavily on our
11 family.

12 I once again find gratefulness in the midst of
13 this diagnosis. I know we are fortunate that an
14 allotransplant can halt progression of this disease.
15 But graft versus host disease has scared me since
16 before I became a part of the ALD world. We watched a
17 family friend suffer from it before my son was born.
18 His donor was his sister. I have met many ALD families
19 with children who are still struggling with it and some
20 who have died from it. Its severity and
21 unpredictability frightens me.

1 I know how to prepare for ALD. But I find it
2 impossible to prepare mentally or physically for graft
3 versus host disease, especially knowing my son will
4 never have a sibling match. The progress made by eli-
5 cel gives me so much hope.

6 The FDA will be reviewing this treatment
7 almost exactly one month after my son's next scheduled
8 MRI, a pivotal one at six and a half years of age. I
9 apologize. It is my hope that the FDA will approve
10 this treatment on the scheduled PDUFA date of September
11 16th, 2022, so that it is available for my son, if
12 needed, and for others less fortunate than us who are
13 statistically less likely to find a match in the
14 registry.

15 Aside from my own plea, I also would like to
16 leave the Committee with two other thoughts that are
17 both true and terrifying. There are several gene
18 therapy treatments for rare diseases being developed at
19 this time. Gene therapy treatment for cerebral ALD is
20 unique because it is halting a disease that, if left to
21 its own course, will result in deterioration and death.

1 There is no way to manage cerebral ALD. Children
2 without access to treatment will die.

3 And number two -- and I'm so glad this has
4 already been touched upon, but it's worth saying again.
5 ALD families that are Black or African-American, Asian,
6 and Hispanic have less than a 50 percent chance of
7 finding a match in the registry while the cerebral ALD
8 deterioration clock is ticking. An approved gene
9 therapy treatment for cerebral ALD will help close this
10 inequitable gap in healthcare. Thank you for this
11 opportunity to share my family's story.

12 **MS. CHRISTINA VERT:** Thank you. Next speaker,
13 Katherine Mullen.

14 **MS. KATHERINE MULLEN:** Hi. My name is
15 Katherine Mullen. My wife and I adopted our oldest son
16 through the Massachusetts Foster Care system in 2018
17 when he was four years old. His younger brother was
18 born just prior to the adoption being finalized.

19 We first heard of ALD when we got a phone call
20 from a social worker saying that a test had come back
21 from the baby's newborn screen and that our happy and

1 healthy four year old had a 50 percent chance of having
2 what she termed a degenerative condition. After
3 testing, he too was diagnosed with ALD. We began
4 educating ourselves on the condition and talking to the
5 medical team.

6 The idea of a bone marrow transplant was
7 terrifying, but it was not a foregone conclusion that
8 it would be necessary. And one of the things that came
9 up while we were educating ourselves was the clinical
10 trial for gene therapy which was having a lot of
11 success treating cerebral ALD. Somewhere in my
12 subconscious, I think we always assumed this would be
13 an option.

14 In April of 2021, our older son's regular MRI
15 showed the start of a lesion. We were devastated.
16 Initially, we were also told that the gene therapy
17 trial would not be an option, as it was full. This
18 news was almost as devastating as the lesion itself.
19 We immediately made an appointment to do his HLA
20 testing, but our hopes were very low. Our son is
21 biracial, and we knew the odds of finding a good HLA

1 match for a person of mixed race is significantly
2 reduced. And our son is a mix of minority groups that
3 already have lower odds of finding a match.

4 Knowing this, we went into an already
5 terrifying ordeal with diminishing hope that he would
6 have a good outcome. We were exceedingly lucky, and he
7 did in fact have a 12 out of 12 match. So we began
8 moving forward with the process for traditional BMT. A
9 couple of weeks before he was supposed to be admitted,
10 we were informed that a spot had opened on the gene
11 therapy trial and that our son would be able to go
12 through gene therapy if we so chose.

13 And so, we were faced with a decision: a
14 traditional BMT with a long track record of success and
15 decades of follow-up data, or gene therapy with a
16 similar success rate but far fewer patients and less
17 than 10 years of post-transplant data. We agonized
18 over the decision. We considered the various risks of
19 each, compared success rates, discussed both transplant
20 data, and worried that whichever choice we made it
21 would be the wrong one.

1 Ultimately, knowing all of the risks, we chose
2 to enroll him in the gene therapy trial. One of the
3 primary deciding factors was our fear about the
4 possibility of GvHD following the traditional BMT which
5 has the potential to be severe and sometimes deadly.
6 Another primary decision factor was how quickly he
7 would be able to return to normal daily activities.
8 Our son is on a social emotional IEP and had already
9 lost more than a year of social development due to
10 COVID and the transition to remote school.

11 He was admitted to Boston Children's Hospital
12 on June 28th and received his transplant on July 6th,
13 2021. We are now almost a year out from transplant,
14 and we are so thankful that he was able to do gene
15 therapy. Had he done a traditional BMT he would likely
16 still be on restrictions and would have lost another
17 whole year of in-person learning at school. Following
18 gene therapy, his labs improved so rapidly that he was
19 cleared to start school in the fall and returned only
20 one day later than the rest of his classmates.

21 We have watched him make social strides that

1 would not have been possible for him if he were still
2 doing remote learning. He has attained his blue belt,
3 competed in martial arts tournaments, and is showing
4 leadership skills on the baseball field with his little
5 league team. His labs have been so good that his
6 transplant doctor told us he no longer needs any
7 special monitoring, and his neurologist was pleased
8 with his six-month post-transplant MRI.

9 Our son would not be where he is today had it
10 not been for gene therapy, and I feel that it was
11 absolutely the right choice. Of course, we also
12 adopted his little brother who is now approaching the
13 age window where lesions are most common. As his
14 brother is Hispanic, he has less than a 50 percent
15 chance of finding a match according to bethematch.org.
16 Our fear is having to go through this again and that
17 without gene therapy as an option our youngest will
18 have a much more difficult path.

19 **MS. CHRISTINA VERT:** Thank you. Next speaker,
20 Paul Orchard.

21 **DR. PAUL ORCHARD:** Hello. My name is Paul

1 Orchard. I'm a pediatrician at Bethesda Pediatrics at
2 the University of Minnesota. The University of
3 Minnesota has been very interested in
4 adrenoleukodystrophy and initiated transplants here
5 back in the 1990s. We've done well over a hundred
6 allogeneic transplants for ALD. And we've been
7 involved in the clinical trials of bluebird on the 102
8 and 104 studies enrolling I think it's 17 patients.
9 So, in -- what I'd like to do is briefly address my
10 views of efficacy and then safety and summarize at the
11 end what my recommendations would be.

12 So, it was my understanding that on the one or
13 two studies in terms of efficacy, three patients were
14 taken off study due to progression so would be
15 considered treatment failures. One of those patients
16 regressed quickly and was not thought to be a patient
17 that should be offered allogeneic transplant. Of the
18 other two patients who received allogeneic transplant,
19 one died going through the transplant process, the
20 other is stable to the best of my knowledge.

21 In terms of efficacy data, I think it's quite

1 clear that eli-cel shows superiority related to no
2 therapy. Demonstrating superiority or inferiority in
3 terms of transplants is more difficult. The parameters
4 such as neurologic functional score, the Loes scores,
5 seizures, neuropsych data who are all important in this
6 regard. And I think we're just going to have to have
7 more experience with more patients to be able to sort
8 this out.

9 In terms of safety, I ordinarily think of this
10 in two global parameters. One is the early
11 difficulties, and the other are late concerns. Related
12 to the early problems, we ordinarily think of
13 transplantation in terms of peri-transplant mortality,
14 meaning the number of patients that die by day 100. As
15 was shown earlier in the 103 study, it was
16 approximately 25 percent of patients died by day 100.
17 In our hands -- this is a large, experienced allogeneic
18 center for ALD -- I would estimate it more to be 15
19 percent, recognizing that most of these patients are
20 treated with unrelated donor grafts.

21 The complications resulting in mortality with

1 treatment in eli-cel is zero as I understand it. So,
2 there's 67 patients. And as these patients become
3 neutropenic and have thrombocytopenia and other issues
4 related to the chemotherapy, one would anticipate that
5 the peri-transplantality's not going to be zero, but it
6 may well be in the one or two percent. And if that's
7 true, then the peri-transplant mortality associated
8 with allogeneic transplant is likely to be an order of
9 magnitude higher.

10 In terms of late complications, clearly
11 myelodysplasia concerns all of us. Three out of the 67
12 patients thus far, so roughly five percent of these,
13 have developed myelodysplasia. Of these, all were
14 transplanted and thus far have been doing well. But
15 the follow-up is very short here. But it's -- I think
16 it's important to understand that the development of
17 myelodysplasia is not a death sentence. And treatment
18 failures both from progression as well as
19 myelodysplasia can be treated with allogeneic
20 transplant, which is the therapy they would be getting
21 anyway if eli-cel was not available.

1 So, from my standpoint, I would certainly
2 recommend that we have another option to offer patients
3 because clearly there are situations where the
4 allogeneic transplant risks are very high. Giving
5 informed consent and making sure that the risk benefits
6 are well understood by the families ends up being very
7 important. But certainly as the transplanter I would
8 like the opportunity to have other therapies available.
9 Thank you.

10 **MS. CHRISTINA VERT:** Thank you. Next speaker
11 is Elisa Seeger.

12 **MS. ELISA SEEGER:** Hi. My name is Elisa
13 Seeger, and I'm the founder of the ALD Alliance. My
14 son, Aidan, was diagnosed with ALD in 2011. He was
15 just six and a half years old. I remember when we were
16 looking for treatment options learning about gene
17 therapy. And even at that time, over 10 years ago,
18 gene therapy is what I would have chosen if that was an
19 option for us. But it was not. Aidan did receive a
20 transplant at Duke. He, again, was a late diagnosis.
21 And he passed away 10 months later having been in-

1 patient for all of those 10 months.

2 In the latter part of 2012 I started a
3 foundation in his honor. Our primary focus is newborn
4 screenings. And we had Aidan's Law signed here in New
5 York where we became the first state to start testing
6 for ALD. And I'm grateful to say today we are at 29
7 states that are testing with more states coming on
8 board in 2022 and 2023.

9 So, we are really in dire need of more
10 treatment options for our boys because we are
11 diagnosing them much earlier, giving them the chance of
12 having that time for early treatment. Sixty-seven
13 children have received this treatment thanks to
14 bluebird bio's clinical trials. The majority of them
15 are doing really well, particularly one I'll talk about
16 now. It's a family I'm very close to.

17 Brian was one of the first boys to receive
18 gene therapy for ALD. Brian, like other patients not
19 of Caucasian descent, had a less than 50 percent chance
20 of finding a match for transplant. Brian did not have
21 a compatible match. The gene therapy saved his life.

1 He is now a healthy boy who attends school and loves
2 Harry Potter. His older brother, who was diagnosed too
3 late for treatment, no longer walks, talks, or eats,
4 and needs round the clock care.

5 We understand as an advocacy organization that
6 works with a lot of ALD families -- we do understand
7 that gene therapy, much like allogeneic transplant,
8 does not come without risks. It is our job as an
9 advocacy organization to educate families about these
10 risks and facilitate important conversations about
11 their treatment options so that parents can make
12 informed decisions for their children.

13 Eliminating the need for finding a match and
14 eliminating the side effects of graft versus host
15 disease are both viewed as tremendous advantages by the
16 parents we interact with. Again, many ALD children
17 will not have a bone marrow or cord blood match as an
18 option.

19 As our organization continues to advocate for
20 newborn screening, we hope that the FDA will keep pace
21 with our efforts by providing sufficient treatment

1 options for all boys receiving a diagnosis of cerebral
2 ALD. Our country's federal leadership has committed to
3 advancing health equities for all of its citizens. If
4 eli-cel gene therapy treatment is approved by the FDA
5 this September, all families receiving a timely
6 diagnosis of cerebral ALD will be granted the
7 opportunity for their child to go on and live a normal,
8 healthy life regardless of their ethnic background and
9 ability to find a match.

10 We respectfully request that the FDA Advisory
11 Committee members take these points into consideration
12 during this meeting and the FDA complete its review and
13 approve eli-cel gene therapy treatment as quickly as
14 possible thereafter for treatment of patients with
15 early cerebral ALD. Thank you, so much.

16 **MS. CHRISTINA VERT:** Thank you. Next speaker.
17 Next speaker, Jillian Smith.

18 **MS. JILLIAN SMITH:** Hi. Thank you, very much.
19 I just want to thank you for the opportunity to speak
20 about the importance and lifesaving need for gene
21 therapy for ALD patients. My son, Grady (phonetic),

1 was diagnosed with ALD on August 17th, 2018, at the age
2 of seven.

3 Grady was a late find patient with zero family
4 history, known as a spontaneous mutation. He had a
5 progressed Loes score of 10. We were originally told
6 that Grady would not be a candidate for treatment and
7 most likely pass within one to three years. After a
8 second opinion, we were told he would be a candidate
9 for bone marrow transplant. Grady was not given the
10 choice of gene therapy due to the progression of his
11 disease and a neurological deficit that had already
12 begun.

13 We were extremely blessed to find out a couple
14 weeks later that Grady had a fully matched unrelated
15 donor and was then scheduled for admission on September
16 11th, 2018, with a transplant date set for September
17 20th, just 34 days after being diagnosed. Grady's
18 fully matched, unrelated donor was unable to donate
19 marrow at the last minute, so Grady did receive
20 peripheral cells. Knowing this, we still went through
21 with the decision because had we not he would most

1 likely pass or live in a painful vegetative state.

2 Although my son has had an amazing outcome and
3 a very successful transplant, Grady has been dealing
4 with chronic GvHD of liver, eyes, skin, joints, and
5 fascia since transplant. We are going on almost four
6 years now on a horrible emotional and physical roller
7 coaster of weekly and biweekly visits. Had gene
8 therapy been an option, this never would have happened.

9 Grady has had many upon many readmissions, biopsies,
10 MRIs, ultrasounds, x-rays, and thousands of intrusive
11 tests.

12 On top of all the lingering medical issues, my
13 son now deals with severe depression and anxiety mostly
14 caused by PTSD of medical situations. Multiple times a
15 week I find my son crying, stating that he does not
16 want to live his life like this any longer. Grady's
17 growth has also been extremely impacted. His muscles
18 and bones have been affected from high dose Prednisone,
19 the main drug to treat GvHD. He has only grown three
20 to four centimeters since transplant almost four years
21 ago.

1 Not to mention all the drugs that we have
2 tried for GvHD that have caused so many side effects,
3 including one being med induced Lupus that filled his
4 knees with so much fluid that it made it hard for him
5 to walk, which my poor Grady thought was progression of
6 ALD taking his ability to walk from him. My son and
7 our lives are forever changed, not only by ALD, but
8 mostly GvHD.

9 My once gifted athlete, who was a basketball
10 and football obsessed boy making one handed catches
11 emulating his favorite Boston NFL player, now struggles
12 to keep up with his peers. I have also lost my job due
13 to constant admissions and appointments. And other --
14 my other children have also lost so much as well.

15 Grady is here and living with ALD, and we are
16 forever thankful. But our family has not truly been
17 able to even enjoy this amazing, uncommon outcome due
18 to lingering medical complications from his
19 allograft. And we are still constantly at worry
20 of losing our baby in some way to GvHD. GvHD, to our
21 family, feels like a price that we have paid for Grady

1 doing so well with ALD.

2 I also would like just to take one second, if
3 you don't mind. There are many ALD parents today
4 listening in on today's public meeting. And it has
5 been extremely hurtful to us to listen to members of
6 the FDA focus on even mentioning cost and saying
7 disgusting things like G-tubes and wheelchairs could be
8 used as convenience.

9 I want to leave with you saying that I pray
10 none of you are ever put in our shoes with your
11 children. I pray they all live healthy, beautiful
12 lives. But maybe, if you lived in our world for even
13 five minutes, your thoughts on this decision today
14 might be much different. Thank you, very much.

15 **MS. CHRISTINA VERT:** Thank you. Next speaker,
16 Bradford Zakes.

17 **MR. BRADFORD ZAKES:** Thank you. Good
18 afternoon. My name is Brad Zakes, and I'm the father
19 of Ethan Zakes who lost his life to cerebral ALD at the
20 age of 10 years old. My family's story is
21 unfortunately not unlike the thousands of other

1 families around the world that are impacted by this
2 devastating disease each year.

3 Our son, Ethan, from all outward indications
4 was born a perfectly healthy baby boy. Ethan developed
5 at a normal rate, was a good student, good athlete, and
6 involved in a number of activities outside of school.

7 There was absolutely no indication that there was
8 anything wrong with our son. It wasn't until Ethan
9 reached the age of eight years old that he started to
10 show the most subtle of early symptoms. Although our
11 son had been a good student, we started receiving
12 reports from his teachers that he was having difficulty
13 staying on task and following the written instruction.

14 Unfortunately, Ethan, like the vast majority
15 of young boys born with this disease, without having a
16 known family history, was classically misdiagnosed as
17 having attention deficit hyperactivity disorder or
18 ADHD. We spent the next two years on a diagnosis
19 odyssey meeting with numerous pediatricians and
20 behavioral specialists only to watch our son's symptoms
21 continue to worsen over this period of time.

1 It was only after he started having some
2 difficulties with his balance and speech that his
3 pediatrician suggested he undergo a CAT scan which
4 revealed the abnormalities in the white matter of his
5 brain, ultimately leading to a conclusive diagnosis of
6 cerebral ALD. At the time of our son's official
7 diagnosis, the progression of his disease was still
8 early enough that he was considered a good candidate
9 for an allogeneic stem cell transplant.

10 Unfortunately, as we have heard from others
11 this morning, Ethan did not have a matching sibling
12 donor that would have allowed us to move quickly with
13 treatment. Even though we live in the Seattle area
14 with some of the best transplant facilities at our
15 doorstep, it still took several months to find a
16 suitable donor for our son. I have no doubt that if
17 Ethan had undergone his transplant immediately upon
18 being diagnosed with the disease, he would still be
19 here with us today. Instead, our family agonizingly
20 waited months for a suitable donor while we watched our
21 son's condition steadily deteriorate before our eyes.

14 Having access to an alternative therapy that
15 can be deployed quickly, without delay would simply be
16 a game changer in the lives of young boys born with
17 this devastating disease. I can definitively state
18 that had eli-cel been a treatment option for our son,
19 we would have been grateful to be provided an
20 alternative therapy as opposed to watching our son
21 slowly slip away from us while waiting for a donor

1 match to be identified.

2 In the case of ALD, the time spent waiting for
3 a suitable donor is often nothing short of a death
4 sentence for these young boys. Eli-cel is desperately
5 needed as an alternative therapy that can effectively
6 fill this treatment gap. I strongly urge that you
7 consider this therapy for approval to help save the
8 lives of future young boys born with this devastating
9 disease. Thank you.

10 **MS. CHRISTINA VERT:** Thank you. Next speaker
11 is Nina Zeldes.

12 **DR. NINA ZELDES:** Thank you for the
13 opportunity to speak today on behalf of the National
14 Center for Health Research. I am Dr. Nina Zeldes, a
15 senior fellow at the center. We analyze scientific
16 data to provide objective health information to
17 patients, health professionals and policymakers. We do
18 not accept funding from drug or medical device
19 companies. We have no conflicts of interest.

20 We agree with FDA scientists in their summary
21 that, "The uncertainty regarding efficacy at 24 months

1 following treatment is particularly problematic in the
2 context of the recent discovery of a serious safety
3 concern, the development of MDS, a life-threatening
4 malignancy which occurred in three subjects." We share
5 the concerns of the FDA that two of this -- two of the
6 events are definitely related to the product, and the
7 third is highly likely to be related.

8 You will recall that the FDA points out that,
9 "The verity of the condition in the absence of a
10 provoking event and the lack of known association
11 between MDS and CALD are other factors that have
12 influenced our concern regarding a causal
13 relationship." Also, as FDA highlighted in the
14 materials, the growth of clones with proto-oncogene
15 integration sites may point to these clones having a
16 selective advantage and may evolve into cancer.

17 We also share FDA's concern about the cause of
18 a tumor (inaudible) leukemia that were observed
19 following treatment with a related LVV-based product.
20 (audio skip) patients with sickle cell disease. We
21 also agree with the FDA that because most of the

1 subjects had less than two years follow up data, longer
2 follow up data will uncover additional cases of MDS as
3 a potentially life-threatening complication of
4 treatment.

5 Please take these concerns into consideration
6 as you conclude whether the data convince you that the
7 likely benefits outweigh the likely risks. Thank you
8 for your time.

9 **MS. CHRISTINA VERT:** Thank you. This
10 concludes the Open Public Hearing. And I will now pass
11 the meeting back over to Dr. Butterfield.

12 **DR. LISA BUTTERFIELD:** I want to thank --
13 really sincerely thank all of the speakers in the Open
14 Public Hearing just now for their time and
15 presentations. We now move to session two discussing
16 the safety, including vector integration. And our next
17 speaker is Dr. Stephen Hughes from the NCI, please.

18

19 **INVITED SPEAKER PRESENTATION: LENTIVIRAL VECTORS AND**
20 **INTEGRATION**

21

1 **DR. STEPHEN HUGHES:** Thank you. What I'm
2 going to spend most of my time talking about today is
3 the integration of HIV progresses in oncogenes and how
4 that causes both benign clonal expansion of T-cells and
5 in some rare cases can contribute to development of T-
6 cell lymphomas.

7 And you might ask yourself, if this is really
8 a meeting about gene therapy and the use of retroviral
9 vectors, why I'm going to focus on -- primarily on HIV.
10 And the reason for that is there isn't very much
11 information in the literature. Experience is
12 relatively limited in terms of what happens following
13 lentiviral therapies in either humans or non-human
14 primates.

15 However, I've listed a few relevant
16 publications here, three that show that there is --
17 there are known cases in which integration in or near
18 oncogenes can cause clonal expansion in humans and a
19 more troubling case in a non-human primate at the
20 bottom in which the lentiviral vector caused lethal
21 disease. And I'll point out something that I'm going

1 to come back to at the very end. In this particular
2 case, the problematic cell in the non-human primate
3 actually had nine proviruses in it. And so that's
4 something to try and remember. And as I say, we'll
5 come back to that.

6 Before I speak about the data on integration,
7 I want to give a very brief introduction on the early
8 stages of HIV replication which are in fact the same as
9 the early stages of infection with a retroviral vector.
10 The first thing that happens during the infection is
11 that there's fusion between the membrane that surrounds
12 the virus and the membrane of the target cell. That
13 fusion is brought about by an interaction between the
14 viral envelope's lack of protein and host receptors on
15 the surface of the cell.

16 That introduces into the cytoplasm of the
17 infected cell, the virion core, which contains the
18 genetic information of the virus. In the case of
19 retroviruses, that genetic information is reverse
20 transcribed. Reverse transcription, the copying of the
21 RNA genome into DNA, begins in the cytoplasm. We've

1 recently come to understand that the viral capsid
2 remains intact in the cytoplasm, transits the
3 cytoplasm, and enters the nucleus through the nuclear
4 port. Once inside the nucleus, reverse transcription
5 is completed.

6 The viral capsid loses its integrity when the
7 reintegration complex comes into contact with host DNA.
8 That allows the DNA to be integrated by the viral
9 protein integrates. And in the rest of the talk we're
10 going to focus on integration and its consequences.
11 Once integrated, the provirus actually in a sense
12 masquerades as a host gene and is copied -- the genetic
13 information is copied by host RNA polymerase. But for
14 the purpose of today's talk I want to point out that
15 the insertion of a provirus is a mutagenic event.

16 I also want to point out that HIV proviruses,
17 and this is also true of HIV vectors, referentially
18 integrate into highly expressed host genes. More than
19 80 percent of the integration events are in the bodies
20 of genes. One of the things that I was asked to
21 mention at least briefly was how we go about

1 determining the integration sites and analyzing the
2 data based on data that we obtained from HIV infected
3 individuals.

4 As I mentioned a moment ago, HIV proviruses
5 preferentially integrate into the bodies of expressed
6 genes. And this is as a result of the interaction of
7 the viral components, the two host factors, CPSF6 and
8 LEDGE. We also know that in the case of HIV infections
9 the initial distribution of HIV proviruses, that is the
10 integration sites that we find, is affected by both
11 positive and negative selections on the infected cells
12 and, actually, also on the progeny of the infected
13 cells.

14 Here are some relevant references. This is
15 not nearly a complete set. But the first two listed
16 papers describe the initial experiments -- some done by
17 my colleagues and myself, some done by others -- in
18 which the fact that there is clonal expansion of HIV
19 infected cells, some of which is due to the integration
20 of the provirus in oncogenes. This is the first two
21 papers. For those who have an interest in how the

1 analysis was done, the next two papers, Sherman, et.
2 al. and Wells, et. al., give slightly different
3 versions of protocols that are used to identify,
4 classify, and analyze integration sites.

5 And at the very bottom there's an overview
6 review that was written by John Coffin and myself that
7 gives more information about the data that's available
8 and how it was analyzed. So, how do we go about
9 determining integration sites, and how do we know that
10 there are cells that have -- that are clonally expanded
11 after they've been infected by HIV or modified by a
12 vector? DNA is isolated from the cells and the host
13 virus DNA junctions are selectively amplified in a PCR
14 reaction using a Linker-Mediated-PCR protocol.

15 We -- and not everyone does the experiments
16 quite the same way, but my colleagues and I do the
17 experiments by amplifying both the junctions at the
18 left and right end of the integrated viral DNA, both
19 the five prime and the three prime LTR and their host
20 junctions. And those DNAs in the -- and the ends of
21 those DNAs are then sequenced using Illumina protocols.

1 We estimate on a good day that we recover approximately
2 -- oh, damn.

3 **MR. MICHAEL KAWCZYNSKI:** I think we lost you
4 there, sir, for a second. We'll let you -- did you
5 lose internet or --

6 **DR. STEPHEN HUGHES:** I don't know what
7 happened. I'm still on the phone obviously.

8 **MR. MICHAEL KAWCZYNSKI:** Sure.

9 **DR. STEPHEN HUGHES:** What would you recommend
10 I do? (inaudible).

11 **MR. MICHAEL KAWCZYNSKI:** Why don't you try
12 logging in right away?

13 **DR. STEPHEN HUGHES:** (inaudible).

14 **MR. MICHAEL KAWCZYNSKI:** Log back in again,
15 sir.

16 **DR. STEPHEN HUGHES:** I'm going to log out and
17 them I'm going to go back in. I do apologize --

18 **MR. MICHAEL KAWCZYNSKI:** All right.

19 **DR. STEPHEN HUGHES:** -- to everyone.

20 **MR. MICHAEL KAWCZYNSKI:** That's all right.
21 We'll take a 30 second break. We'll just put the --

1 **DR. STEPHEN HUGHES:** I hope.

2 **MR. MICHAEL KAWCZYNSKI:** That's quite all
3 right.

4 **DR. STEPHEN HUGHES:** The connection is not
5 coming up when I -- when I --

6 **MR. MICHAEL KAWCZYNSKI:** So you --

7 **DR. STEPHEN HUGHES:** -- go back out and --

8 **MR. MICHAEL KAWCZYNSKI:** So you may have --
9 your internet may have blipped or something like that.

10 Sir, while you're still doing that, if you want, you
11 have your -- if you have your slide deck with you, we
12 have you on phone. We can continue to let you present
13 and we'll just move the slides for you.

14 **DR. STEPHEN HUGHES:** Yeah. But I don't know
15 which -- I need to look at the slides to know what to
16 say.

17 **MR. MICHAEL KAWCZYNSKI:** Oh, no, no. I meant
18 I'll tell you which slide we're on if you have your
19 slide deck with you.

20 **DR. STEPHEN HUGHES:** Let me -- if you don't
21 mind, let me take one more --

1 **MR. MICHAEL KAWCZYNSKI:** Sure.

2 **DR. STEPHEN HUGHES:** -- shot at --

3 **MR. MICHAEL KAWCZYNSKI:** No problem.

4 **DR. STEPHEN HUGHES:** -- getting back on.

5 **MR. MICHAEL KAWCZYNSKI:** It wouldn't have --

6 it wouldn't have been a public meeting without at least

7 one glitch, sir.

8 **DR. STEPHEN HUGHES:** Yeah. But I wish it was

9 someone else.

10 **MR. MICHAEL KAWCZYNSKI:** I totally understand.

11 **DR. STEPHEN HUGHES:** I can try and do this

12 from a different computer. But if I do that, you're

13 not going to have -- you're not going to see my face.

14 But that may actually be

15 **MR. MICHAEL KAWCZYNSKI:** Well --

16 **DR. STEPHEN HUGHES:** -- an advantage.

17 **MR. MICHAEL KAWCZYNSKI:** Let me ask this and

18 let me ask this to the Chair. Dr. Butterfield, if you

19 don't mind -- or Christina Vert, do you want to

20 possibly go on to the sponsor while we get Dr. Hughes

21 back in and then come back to him?

1 **DR. LISA BUTTERFIELD:** I think that might be a
2 good idea. This sounds like it might take a few
3 minutes. So, I'm okay going on to the bluebird bio
4 presentation that would follow.

5 **MR. MICHAEL KAWCZYNSKI:** Okay. As long as
6 that's all right. Bluebird, if you're ready I'm going
7 to pull you up. And then I will continue to help you,
8 sir. So I'm going to pull bluebird up.

9 **DR. LISA BUTTERFIELD:** All right. Thank you,
10 very much. So again -- so, we're going to pause the
11 presentation from Dr. Hughes from the NCI. And we'll
12 move on to the next presentation from the sponsors of
13 bluebird bio. And I'd like to welcome back Ms.
14 Eggimann and also welcome Dr. Bonner for their
15 presentation. Thank you.

16

17 **APPLICANT PRESENTATION: INTRODUCTION**

18

19 **MS. ANNE-VIRGINIE EGGIMANN:** Thank you, Dr.
20 Butterfield. Good afternoon. I'm Anne-Virginie
21 Eggimann, chief regulatory officer at bluebird bio. In

1 this session, we will discuss the safety of lentiviral
2 vectors based on our experience across our clinical
3 development programs.

4 As we discussed this morning, bluebird bio
5 uses lentiviral vectors, or LVVs, to add functional
6 copies of a gene in the DNA of the patient's own blood
7 stem cells. For this purpose, we use two different
8 LVVs to manufacture three distinct gene therapy
9 products. Lenti-D LVV is used to manufacture eli-cel;
10 BB305 LVV is used to manufacture beti-cel -- the two
11 products whose benefit-risk assessment we are
12 discussing today and tomorrow respectively.

13 In addition, BB305 LVV is used to manufacture
14 a third product, lovitibeglogene autotemcel, or lovo-
15 cel, currently in clinical development for the
16 treatment of sickle cell disease. These LVVs are
17 custom designed to support a specific mechanism of
18 action, and each LVV has a distinct safety profile.
19 This afternoon, we'll review the differences between
20 Lenti-D and BB305 and how they contribute to the unique
21 safety profiles of eli-cel and beti-cel.

1 As we briefly covered this morning, Lenti-D
2 LVV was designed so that eli-cel can produce functional
3 ALDP in the brain. BB305 LVV was designed so that
4 beti-cel and lovo-cel can produce functional adult
5 hemoglobin in red blood cells. As you can see on this
6 slide, there are several key differences between Lenti-
7 D LVV and BB305 LVV. Of importance is the use of a
8 different promoter which is the on switch that genes
9 use to drive expression. Lenti-D uses a modified viral
10 MNDU3 promoter, and BB305 uses the human beta-globin
11 promoter.

12 And let me explain why we purposefully used a
13 different promoter for both of these LVVs. For beti-
14 cel and lovo-cel we could use an LVV that restricts
15 production of the desired protein in a specific
16 lineage. Hence, the BB305 LVV was designed with a
17 human beta-globin promoter to drive robust gene
18 expression only in the erythroid cells or red blood
19 cells as indicated on the left on this slide. In
20 contrast, for eli-cel, we used the modified viral MNDU3
21 promoter to drive high levels of ubiquitous gene

1 expression in all lineages deriving from blood stem
2 cells.

3 We made this choice because the exact type of
4 hematopoietic derived cell that is responsible for
5 long-term engraftment in the brain is unknown. Thus,
6 to ensure appropriate expression of the ALD protein in
7 the brain, the ubiquitous promoter, MNDU3 was chosen.
8 As you heard, our two LVVs were designed differently on
9 purpose. So it is not surprising that they would have
10 a different safety profile.

11 And for each of our products we believe the
12 safety profile of each LVV, along with the risks
13 inherent to the treatment process, must be weighed
14 against the severity of the disease they aim to treat,
15 the availability of other treatments and their own
16 risks, and the probability and magnitude of the
17 lifelong benefit LVV gene therapy could offer to
18 patients and their families.

19 With that, I'd like to introduce Dr. Melissa
20 Bonner, Head of Research at bluebird bio who will share
21 with you the deep expertise we have accumulated over

1 the past decade to understand where LVVs integrate in
2 the genome and evaluate the potential impact of these
3 integrations using the state-of-the-art technologies.

4 Dr. Bonner will be accompanied by Dr. Williams
5 and Dr. Adrian Thrasher, as well as Dr. Coleman
6 Lindsley to respond to questions this afternoon. Dr.
7 Williams and Dr. Thrasher are world renowned experts in
8 the field of gene therapy, and Dr. Lindsley has
9 profound expertise in clonal hematopoiesis and MDS.
10 Dr. Bonner.

11 **APPLICANT PRESENTATION: LENTIVIRAL VECTOR SAFETY**
12 **(RELEVANT TO BOTH ELI-CEL AND BETI-CEL)**

13
14 **DR. MELISSA BONNER:** Hello. My name is Dr.
15 Melissa Bonner. And I will provide an overview of
16 lentiviral vector safety. As you just heard, bluebird
17 has three products in development for the treatment of
18 cerebral adrenoleukodystrophy, beta thalassemia
19 requiring regular red blood cell transfusions and
20 sickle cell disease. They are all ex-vivo autologous
21 lentiviral vector genetically modified hematopoietic

1 stem and progenitor cell products. And this is where
2 the similarities end.

3 These three programs use two unique lentiviral
4 vectors with different safety profiles. As discussed
5 this morning, there have been three cases of Lenti-D
6 LVV mediated insertional oncogenesis in CALD patients
7 treated with eli-cel. Separately, across our two
8 unique hemoglobinopathy programs, beti-cel and lovo-
9 cel, using the BB305 LVV with 113 patients treated to
10 date there have been no cases of insertional
11 oncogenesis.

12 The vector related safety profile of eli-cel
13 differs from that of beti-cel and lovo-cel. In this
14 session, I will provide an overview on retroviral
15 vectors, including safety, benefits and risks, and
16 traceability. I will then discuss vector design
17 elements of the Lenti-D LVV used for manufacture of
18 eli-cel and vector related safety events of insertional
19 oncogenesis in three patients treated with eli-cel. I
20 will then contrast this with vector design elements of
21 the BB305 LVV used for manufacture of both beti-cel and

1 lovo-cel where insertional oncogenesis has not been
2 seen.

3 Lentiviral vectors, or LVVs, are part of the
4 retrovirus family along with gamma retroviral vectors,
5 or GRVs. These two distinct classes of retroviral
6 vectors have both been used clinically in gene therapy
7 products. Notably, these have also been used for
8 manufacture of CAR T products, some of which are FDA
9 approved. Retroviruses, including lentiviruses, are
10 RNA viruses that reverse transcribe viral RNA into DNA
11 which can then be integrated into host cell genomic
12 DNA.

13 Integration is necessary for therapeutic
14 efficacy in proliferating tissues such as hematopoietic
15 stem cells. Retroviral vectors are modified
16 retroviruses that replace the viral genes with a
17 therapeutic trans gene that can be delivered to target
18 cells via a process called transduction and result in
19 expression of the therapeutic gene in appropriate cell
20 types.

21 Due to the absence of intact viral genes, no

1 viral genes are expressed in patient cells, and
2 integrated viral vectors, sometimes called proviruses,
3 are incapable of replication and further propagation.
4 Hence, retroviral vectors are replication incompetent.
5 Although both result in permanent integration of
6 transgenes into the patient genome, they have different
7 biases for where they insert. And this is important
8 because it influences the inherent safety profile and
9 risk a vector mediated adverse event.

10 This is important because GRVs historically
11 have seen vector related safety events. And this has
12 shaped the view of the use of integrating vectors in
13 gene therapy products. Insertional oncogenesis refers
14 to a malignancy that has directly resulted from the
15 integration of an exogenous sequence like a provirus
16 into genomic DNA, which has led to either gene
17 dysregulation, dysfunction, or destruction of key
18 genetic regulatory elements.

19 Insertional oncogenesis has been observed
20 clinically with the use of GRVs where an expression of
21 the transgene is via promoter and enhancer sequences in

1 the long terminal repeats, or LTRs. Across four
2 different disease indications treated with gene therapy
3 using GRVs, insertional oncogenesis occurred in 2 to 90
4 percent of patients. Eighty-four percent of these
5 cancers were seen in the first five years post-
6 treatment.

7 The severe adverse event of insertional
8 oncogenesis seen clinically following the use of GRVs
9 necessitated the development of a safer vector design.
10 One design element engineered into both GRVs and LVVs
11 is the removal of the viral enhancer and promoter
12 sequences from the LTRs and the addition of an internal
13 promoter, here shown with the orange arrow, to drive
14 expression of the transgene. The removal of the viral
15 enhancer and promoter sequences from the LTRs is a
16 vector design element referred to as self-inactivation
17 or SIN.

18 Use of an internal promoter allows for more
19 flexible design and more control of transgene
20 expression including restriction of transgene
21 expression to specific cell types. In addition to

1 incorporating SIN features, LVVs also have inherent
2 features that contribute to their improved safety
3 profile over earlier iterations of GRV. LVVs have an
4 integration profile that is biased away from promoters
5 and transcriptional start sites and tends to be
6 entronic (phonetic), as depicted here.

7 And importantly, less than 25 percent of the
8 HIV-1 genome is contained in the provirus, and there
9 are no intact HIV-1 genes, further rendering it
10 replication incompetent. These inherent and engineered
11 attributes have led to a new generation of clinical
12 development for severe genetic diseases which have been
13 foundational in our products in development at bluebird
14 bio.

15 LVVs are ideal for genetic modification of
16 hematopoietic stem cells because transduction results
17 in the stable integration of the therapeutic vector
18 into the host cell genome. Importantly, all daughter
19 cells of a transduced hematopoietic stem cell will
20 contain the therapeutic vector. Expression of the
21 therapeutic transgene is controlled by promoter choice

1 and not all hematopoietic cells that contain the
2 therapeutic vector will necessarily express the
3 therapeutic transgene.

4 Since the therapeutic vector is stably
5 incorporated into the genome, the benefit is expected
6 to be lifelong. And innervating vectors have an
7 advantage over other genome modifying technologies in
8 that they are traceable, with high throughput
9 integration site analysis, enabling monitoring of
10 clonal dynamics with regard to the vector insertion as
11 well as investigations into hematological aberrations
12 such as malignancy allowing for either exoneration or
13 attribution of the vector insertion.

14 It's important to be clear that all vector
15 insertions are mutations. As such, there could be a
16 theoretical unintended impact on an endogenous gene
17 such as knock out of a gene -- so this would likely
18 impact only a single allele -- or an enhancer activity
19 leading to increased gene expression. While LVVs
20 incorporate many safety advantages to mitigate the risk
21 of insertional oncogenesis, the risk is not eliminated.

1 Therefore, it is key that LVV integrations are
2 traceable as that property allows for robust monitoring
3 of patient's post-treatment for signs of expanding
4 clones.

5 Each LVV insertion results in a unique
6 mappable insertion site which you can think of as a
7 genetic bar code. The unique and mappable insertion
8 sites can be determined via sequencing the genomic DNA.
9 And a high throughput sequencing method that allows for
10 identification of these unique mappable insertion sites
11 is called integration site analysis, or ISA. ISA
12 allows us to track clonal populations to learn about
13 hematopoietic reconstitution and can shed light on
14 events of clonal expansion or even oncogenesis to help
15 determine any potential role of specific insertion
16 sites.

17 ISA is routinely performed on post-infusion
18 peripheral blood in our clinical studies. Shown on the
19 left is a standard representation of ISA data for any
20 given patient timepoint. Each colored bar represents a
21 unique mappable insertion site and its relative

1 frequency amongst all unique mappable insertion sites
2 from that sample analysis. These represent the top 10
3 most abundant insertion sites. The gray bar is an
4 aggregation of relative frequencies of all other unique
5 mappable insertion sites for that sample.

6 Quantification of relative frequency of any
7 given insertion site allows for traceability of the
8 clone bearing that insertion site over time. And as
9 mentioned previously, progeny from that cell will
10 contain the same insertion site and can be tracked.
11 Notably, for most patients, thousands of unique
12 mappable insertion sites are observed at any time
13 point.

14 And the vast majority of patients have top 10
15 unique insertion sites with relative frequencies less
16 than one percent. And a one percent relative frequency
17 of any insertion site does not equal one percent
18 prevalence of that insertion site containing clone in
19 the peripheral blood as the proportion of LVV
20 containing hematopoietic cells post-treatment is less
21 than 100 percent.

1 While ISA is a powerful tool, it's important
2 to be clear on what ISA can and cannot do. ISA allows
3 traceability of clonal populations bearing specific
4 insertion sites over time to track clonal dynamics. It
5 can identify insertion sites that could be of interest
6 for further characterization. Insertion sites with
7 similar relative frequencies that track together over
8 time could represent clones with more than one
9 insertion site. ISA can identify oligoclonality to
10 satisfy regulatory guidance and provide
11 contextualization to treating physicians.

12 ISA, while useful, is importantly not
13 predictive. It cannot predict which, if any, clones
14 will become predominant in a population. It cannot
15 predict if or how oligoclonality will change over time.
16 It cannot predict clinical outcomes or disease onset.
17 Importantly, ISA is only able to detect transduced
18 cells; it cannot predict oligoclonality with respect to
19 unmarked cells.

20 ISA is a useful tool that allows for
21 traceability of clonal populations, an attribute that

1 is unique to integrating vector technologies. But it
2 is not predictive. Clinical assessments, including
3 physical exam and complete blood count analyses or CBC,
4 remain the standard for directing patient care.

5 Let's look at an illustrative example. Here,
6 we have three cell populations seen over time. Each
7 circle is a cell. Each colored vertical bar represents
8 a unique LVV insertion. Therefore, a circle with a
9 colored vertical bar represents a unique transduced
10 clone. Not all cells contain an insertion. This is
11 aligned with what we see post-treatment. Note that
12 this example does not contain cells with more than one
13 insertion site for simplicity. Cells can contain more
14 than one insertion site.

15 Since ISA analysis can only detect cells that
16 do contain an insertion site, ISA can only see these
17 cells. Of these transduced cells, let's focus on the
18 green clone. ISA analysis calculates a relative
19 frequency or percentage of a given insertion site
20 amongst all detected unique insertion sites. For the
21 green clone, here are the relative frequencies across

1 the three samples. At bluebird, we define
2 oligoclonality as any insertion site with a relative
3 frequency greater than or equal to 10 percent.

4 Therefore, for these two highlighted time
5 points where the green clone has exceeded this 10
6 percent threshold the population is considered
7 oligoclonal with respect to that insertion site. What
8 is the significance of oligoclonality? Oligoclonality
9 is an operational definition. It does not in and of
10 itself imply an outcome in hematopoiesis.

11 Oligoclonality can suggest clonal hematopoiesis with
12 relation to a vector insertion. This could suggest an
13 increased risk of a hematological aberration. However,
14 this can also occur in the absence of a hematological
15 aberration.

16 The determination of oligoclonality can
17 satisfy regulatory guidance for post-treatment
18 monitoring in the clinical setting. And using the art
19 of science and medicine, oligoclonality could trigger
20 further follow-up out of an abundance of caution
21 because patient safety is a priority. It's important

1 to recognize that oligoclonality does not equate to
2 malignancy. Oligoclonality does not diagnose or
3 predict a malignancy.

4 Oligoclonality in this case only refers to
5 clonality with relation to any given insertion site and
6 therefore does not shed light on overall clonality
7 which would include cells that do not contain an
8 insertion site and any endogenous gene variants. We
9 define oligoclonality as any insertion site greater
10 than or equal to 10 percent relative frequency. Of the
11 176 patients for whom we have ISA data, as of the most
12 recent visit, oligoclonality criteria were met at two
13 or more consecutive visits, i.e. persistent, by five
14 patients treated with eli-cel, two patients treated
15 with beti-cel, and two patients treated with lovo-cel.

16 An additional two patients treated with eli-
17 cel and one patient treated with beti-cel met the
18 definition of oligoclonality only at the most recent
19 time points and therefore are not considered
20 persistent. The three patients treated with eli-cel
21 that were diagnosed with MDS also met the criteria for

1 oligoclonality, but due to their allogeneic
2 hematopoietic stem cell transplant are no longer being
3 followed by ISA.

4 Finally, two additional patients, one treated
5 with eli-cel and one treated with lovo-cel, met the
6 criteria for persistent oligoclonality. But both
7 patients have since had allogeneic hematopoietic stem
8 cell transplant and ISA follow-up was discontinued.

9 Thus, across all three programs, greater than 90
10 percent of patients currently have a diverse polyclonal
11 LVV integration site profile. For patients treated
12 with a product manufactured with the Lenti-D LVV 84
13 percent are currently polyclonal. For patients treated
14 with the product manufactured with the BB305 LVV, 95
15 percent of patients are currently polyclonal.

16 Let's discuss vector design elements of the
17 Lenti-D LVV used for manufacture of eli-cel and vector
18 related safety events of insertional oncogenesis in
19 three patients treated with eli-cel. The Lenti-D LVV
20 pictured here at the bottom was designed in 2010 to
21 deliver intact copies of the ABCD1 cDNA to autologous

1 hematopoietic stem cells to enable production of
2 functional ALDP. The ubiquitous MNDU3 promoter and
3 enhancer was a specific design choice for the Lenti-D
4 LVV for multiple reasons.

5 First, it is unknown what hematopoietic drive
6 cell is responsible for long-term engraftment in the
7 central nervous system. Therefore, to ensure
8 appropriate expression of ALDP to achieve stabilization
9 of disease progression, a promoter that drives gene
10 expression across many cell types, like MNDU3, was
11 necessary. Second, the suitability of the MNDU3
12 promoter for gene therapy for CALD had been previously
13 demonstrated. And third, non-clinical assessments of
14 the Lenti-D LVV did not suggest any vector related
15 safety events, including oncogenesis, as quantifiable
16 hazard.

17 Despite these favorable data, insertional
18 oncogenesis has been seen in three patients treated
19 with eli-cel to date. Here is an overview of the three
20 cases of MDS, all determined to likely be Lenti-D LVV
21 mediated insertional oncogenesis in CALD patients

1 treated with eli-cel. Two patients have persistent
2 cytopenia following treatment with eli-cel. And one
3 developed cytopenia several years after treatment.
4 Thrombocytes were most effective for all three
5 patients.

6 Oligoclonality was also observed. Patient
7 104-18 and 104-8 had insertion sites greater than 10
8 percent at the first ISA analysis, and these insertion
9 sites were persistently oligoclonal. Patient 102-3 had
10 an insertion site that increased to greater than 10
11 percent 92 months post-treatment. Identified clones
12 contained between two and six unique insertion sites.
13 And at least one of those insertion sites was in a
14 well-known proto-oncogene, either MECOM or PRDM16,
15 which had been previously identified as oncogenesis
16 related GRV insertion sites.

17 The persistent cytopenias and evidence of
18 expanded clones led to bone marrow evaluations and the
19 observation of dysplasia. Patient 102-3 notably had 15
20 to 20 percent blasts in their bone marrow. All of
21 these patients were subsequently diagnosed with MDS.

1 All three cases were determined to be likely Lenti-D
2 LVV mediated insertional oncogenesis using the LVV
3 exoneration criteria seen here on the left.

4 This criteria was aligned on with key opinion
5 leaders in gene therapy and hematology oncology. If
6 all exoneration criteria are met, the key opinion
7 leaders agreed the totality of evidence would support
8 that the LVV insertion was a non-causative passenger
9 insertion. Two of the patients had no detectable
10 classic driver mutations associated with MDS that could
11 biologically explain the emergence of disease. All
12 three patients had at least one insertion site in a
13 known proto-oncogene.

14 While MECOM and PRDM16 are common insertion
15 sites found in most patients without clinical sequelae,
16 and therefore by themselves are unlikely signs of
17 clonal expansion or malignancy, in these cases aberrant
18 gene expression was detected and attributed to either
19 enhancer activity of the internal MNDE3 promoter or
20 interference with gene silencing as hematopoietic stem
21 cells differentiate. As you can see, not all of the

1 LVV exoneration criteria were met.

2 Because we see gene expression changes in all
3 genes analyzed, including known proto-oncogenes, in the
4 three eli-cel patients diagnosed with MDS and there are
5 no clear alternative driver mutations in two of the
6 patients signifying a mechanism for disease onset, we
7 cannot exonerate the activity of the LVV insertion in
8 these cases. And therefore, we believe these three
9 cases are likely insertional oncogenesis. Vector
10 insertions in proto-oncogenes are common and the vast
11 majority of clones with insertion sites in proto-
12 oncogenes do not expand.

13 This is true in non-bluebird studies as well.

14 A clinical trial for ADA-SCID using a similar promoter
15 in their GRV found MECOM as the most common insertion
16 site. And there have been no malignancies in that
17 trial with now greater than 10 years of follow-up.
18 Therefore, we believe the root cause of malignancy in
19 these cases is multi-factorial.

20 Switching gears, now I will talk about the
21 vector design elements of the BB305 LVV, a different

1 LVV used for manufacture of two unique products, beti-
2 cel and lovo-cel, where insertional oncogenesis has not
3 been seen. There have been no cases of malignancy nor
4 insertional oncogenesis in patients treated with beti-
5 cel. And as you will hear tomorrow, the great majority
6 of patients achieved transfusion independence across
7 all phases of study, all ages, and all genotypes with
8 durable transfusion independence up to seven years
9 post-treatment.

10 As discussed previously, the SIN LVV design
11 coupled with an erythroid specific internal promoter
12 and enhancer limits the transgene expression to
13 nucleated erythroid lineage cells and therefore limits
14 the potential for aberrant gene dysregulation.

15 Additionally, there is no evidence in the published
16 literature to suggest that beta-thalassemia patients
17 have an elevated risk of hematologic malignancy.

18 We have observed malignancy but not
19 insertional oncogenesis in two patients treated with an
20 early version of lovo-cel for sickle cell disease. As
21 these two malignancies were not related to the

1 lentiviral vector, these lentiviral events have no
2 impact on the safety assessments of eli-cel or beti-
3 cel. Importantly, beta-thalassemia and sickle cell
4 disease are very different diseases despite both being
5 beta hemoglobinopathies.

6 Different disease specific consideration and
7 risks likely impact the observed difference to date in
8 occurrence of malignancy between beti-cel where we have
9 no malignancy and lovo-cel where we have two cases of
10 malignancy. One key difference is that there is
11 evidence of baseline increased risk of hematologic
12 malignancy in patients with sickle cell disease. And
13 in fact, this is a two- to ten-fold increase in risk of
14 hematologic malignancy and specifically of AML. And
15 this is in the absence of a hematopoietic stem cell
16 transplant.

17 Additionally, disease specific risks
18 necessitated different clinical development paths that
19 likely led to different product specific risks with the
20 early version of lovo-cel. The two sickle cell disease
21 patients that developed malignancy were treated with an

1 early version of lovo-cel as part of Group A in study
2 HGB 206. These two malignancies were not insertional
3 oncogenesis.

4 The first case had blasts that did not contain
5 the provirus, and therefore the vector could not have
6 been a driver of blast formation. The blast did have
7 numerous hallmark AML mutations at the time of
8 diagnosis providing a biological explanation for
9 emergence of disease. The second case had similar
10 hallmark AML mutations and a non-causative passenger
11 insertion in a gene called VAMP4 which is not a known
12 proto-oncogene and has no documented activity relevant
13 to cancer.

14 As with the MDS cases, in the eli-cel treated
15 patients the role of the LVV in driving malignancy was
16 robustly evaluated. After evaluating all established
17 criteria for determining exoneration of LVV involvement
18 in development of the AML, which are summarized on this
19 slide, and the same criteria shown earlier for
20 evaluation of the eli-cel patients, the totality of the
21 evidence supported that the insertion site in VAMP4 is

1 a non-causative passenger insertion. This work has
2 since been peer reviewed and published in the *New*
3 *England Journal of Medicine*.

4 Both of the malignancies in patients treated
5 with the early version of lovo-cel are unrelated to the
6 use of the BB305 lentiviral vector. Therefore, these
7 safety events are unique to the pathophysiology of
8 sickle cell disease and do not impact the safety
9 assessments of beti-cel or eli-cel. To recap, the
10 vector related safety profile of eli-cel differs from
11 that of beti-cel and lovo-cel.

12 The Lenti-D LVV uses a ubiquitous MNDU3
13 promoter to drive appropriate expression of ALDP and
14 has led to insertional oncogenesis in three patients
15 treated with eli-cel to date. The BB305 LVV uses a
16 cell type specific promoter to drive appropriate
17 expression of the beta-A T87Q globin in erythroid
18 lineage cells and has not led to insertional
19 oncogenesis across two different programs.

20 In summary, retroviral design has come a long
21 way since the original GRVs utilized in gene therapy

1 trials in the 1990s and early 2000s. LVV properties,
2 both naturally occurring and designed, limit the risk
3 of any on insertion to cause gene dysregulation in
4 nearby endogenous genes. Insertion sites can be
5 tracked with a high throughput ISA method that can
6 provide a lot of insight into clonal dynamics, but
7 importantly is not predictive of clinical sequelae.
8 Therefore, we recommend regular CBC analyses for all
9 patients treated with novel one-time therapies.

10 Oncogenesis is a known hazard for
11 hematopoietic stem cell transplant in the absence of
12 gene therapy and can be exacerbated by underlying
13 disease characteristics. Insertional oncogenesis is an
14 acknowledged hazard associated with gene therapy
15 products and is likely interdependent on the presence
16 of other genetic changes, the properties of the
17 internal transgene promoter and enhancer in the
18 lentiviral vector, the specific insertion site within a
19 proto-oncogene, and the activity of the transgene.

20 Importantly, eli-cel is distinct from beti-cel
21 with regard to risk for insertional oncogenesis. Today

1 we are focusing on eli-cel for the treatment of CALD,
2 and tomorrow we will discuss beti-cel for the treatment
3 of beta-thalassemia requiring regular red blood cell
4 transfusions. To preview what you will hear tomorrow,
5 the benefit risk profile of beti-cel is positive. The
6 great majority of patients achieve transfusion
7 independence across all phases of studies, all ages,
8 and all genotypes with durable transfusion independence
9 up to seven years post-treatment.

10 The safety profile largely reflects known side
11 effects of mobilization and conditioning agents. In
12 the 63 patients treated with beti-cel in clinical
13 trials, to date there have been no malignancies and no
14 insertional oncogenesis. To review what you heard
15 today, the benefit-risk profile of eli-cel remains
16 positive despite insertional oncogenesis in three
17 patients. For boys with CALD who only have a
18 mismatched donor, eli-cel is a lifesaving therapy.
19 Eli-cel is also a meaningful treatment option for boys
20 with a matched unrelated donor.

21 CALD is aggressive, and it is fatal.

1 Treatment with eli-cel allows for the possibility of
2 disease stabilization with preservation of physical and
3 intellectual function in the majority of patients. And
4 for boys with CALD without a match sibling donor, eli-
5 cel is more likely to achieve both overall and event
6 free survival compared with allogeneic hematopoietic
7 stem cell transplant. Every CALD family deserves a
8 suitable option for their little boy, and that option
9 simply does not exist for all families.

10 These safety profiles must be weighed
11 separately. Along with the risks inherent to the
12 treatment process, the possible risk of oncogenesis in
13 each program must be weighed against the severity of
14 the disease, the availability of other treatments and
15 their risks, and the probability and magnitude of
16 lifelong benefit that gene therapy could offer. I
17 thank you for your time.

18 **DR. LISA BUTTERFIELD:** All right. Thank you,
19 very much, to the bluebird team. And now, we're going
20 back with solved internet issues to Dr. Hughes -- the
21 remaining of Dr. Hughes presentation. Thank you.

1

2 INVITED SPEAKER PRESENTATION: LENTIVIRAL VECTORS AND
3 INTEGRATION (Cont.)

4

5 **DR. STEPHEN HUGHES:** Please accept my
6 apologies on the behalf of my computer. I think this
7 is where we left off. And I apologize for the break in
8 the action.

9 So, when the internet failed, I was talking
10 about how we actually go about isolating integration
11 sites and defining (inaudible) clonally expanded cells.
12 And actually, having this after the previous talk may
13 simplify things for people. So, when we obtain the
14 cells we want to analyze, we make DNA from them. The
15 DNA is fragmented, and the host virus junctions are
16 selectively amplified using Linker-Mediated PCR. When
17 we do this analysis, we actually attempt to amplify and
18 sequence the junctions from both ends of the integrated
19 provirus. They're selectively amplified and sequenced
20 using Illumina technology.

21 On a good day, we estimate in samples -- HIV

1 sample patients where approximately one cell in a
2 thousand is infected, we think we can recover about 10
3 percent of the provirus in the sample. But it's
4 important to recommend that there's a great deal of
5 material that is not analyzed. We take only a very
6 small sample.

7 All of the cells in any expanded clone, as you
8 were just told, are descended from one original
9 infected cell. And that means, as you were just told,
10 that all the proviruses in the cells in any given clone
11 are integrated exactly the same spot. And of course,
12 this is how we identify clones. And we can monitor the
13 independent isolation of the same host virus junction
14 in the sample because we sheer the DNA randomly. Now
15 as a consequence, if you share -- if you sheer several
16 different pieces, they'll have different host --
17 different break points in the host chain.

18 So, if we repeatedly isolate the same exact
19 host virus junction with different break points in the
20 appended host DNA, we know that that's evidence of
21 clonal expansion. That brings us back to another

1 question. And this is again relevant to the talk that
2 we just had. And that is why do HIV -- infected T-
3 cells clonally expand in an HIV infected individual?
4 And of course the very first thing is that the majority
5 of the HIV infected cells are T-cells. And T-cells
6 normally clonally expand in response to both antigens
7 and cytokines.

8 So if uninfected T-cells clonally expand, it's
9 certainly reasonable to expect that there are infected
10 T-cells that will also clonally expand. However, I
11 mentioned -- and you're almost certainly aware of, in
12 the parental T-cell a provirus can be integrated in or
13 near an oncogene in a way that alters the expression of
14 that oncogene and promotes the growth or survival of
15 the infected cell. And so far, we have identified
16 seven genes, all of which are known oncogenes, in which
17 HIV provirus can cause clonal expansion.

18 I'll point out something that should be
19 obvious. And that is that of course there's lots more
20 than seven oncogenes. So it appears that having an HIV
21 provirus land in just any oncogene is not sufficient.

1 Although there certainly are some that can affect the
2 growth properties itself. So, how do we recognize
3 which proviruses actually contribute to the growth and
4 persistence of an infected T-cell? In the first case
5 there's an enrichment for the number of proviruses that
6 are integrated in that chain in-vivo, that is in an
7 infected individual, relative to the starting
8 distribution. And I'll explain that in more detail in
9 just a moment.

10 Secondly, in the case of HIV proviruses, the
11 ones that cause clonal expansion of T-cells in-vivo,
12 all of them are integrated in a host gene, and all of
13 them are oriented in the same direction as the gene.
14 And I would point out based on data from a variety of
15 groups that neither of these last statements are true
16 for all retroviruses, for example, non-lenti
17 retroviruses in animal models.

18 Finally, HIV proviruses that cause clonal
19 expansion in-vivo -- the ones that are actually
20 involved in the clonal -- in driving or sustaining
21 clonal expansion, they're always integrated in specific

1 introns. So, here is a specific case. And this is
2 from the Maldarelli paper of the reference of which I
3 gave just a few minutes ago. And this shows that
4 there's a positive selection for T-cells with
5 proviruses in particular introns in the MKL food chain.
6 This is data from an individual we call patient one.
7 And this person was on successful therapy for 10 years
8 when the analysis was done.

9 And what you see is a diagram of the MKL2 gene
10 which is about 200 kb. And most of the gene is intron.
11 The little vertical bars actually are the coding exons.
12 And what you see in the diagram are little arrow heads,
13 and those represent the integration sites that we
14 obtained in patient one. They're all clustered in a
15 very small part of the gene, and they all point in the
16 same direction as the gene.

17 And what I want to emphasize is this
18 particular collection of integration sites is clear
19 evidence that there is selection for these integration
20 sites. That is to say that the cells that have them
21 grew better. And we know that because we can compare

1 the distribution that we see. So there's initial
2 distribution that was obtained by infecting simulated
3 PVMCs with HIV in-vitro. And what you see in the
4 diagram at the top is the distribution of integration
5 sites in freshly infected cells.

6 And what you see is two important things. The
7 integration sites are scattered throughout the gene,
8 and quite obviously they're not all pointed in the same
9 direction of the gene. It's about 50/50. About half
10 of them are in the same orientation as the gene, and
11 about half of them are in the reverse orientation. We
12 looked more closely at the data from the patients. So
13 when we blow up the little region where the
14 integrations were obtained from the patient are, you
15 see that the integrations are in intron four and six
16 but not in intro five. Again, that's evidence of
17 selection, not specific integration.

18 Some of the little arrow heads have little
19 black circles around those. Those are the ones in
20 which we are certain based on the data we have that
21 those integration sites come from clonally expanded

1 cells. I think the integration sites that are not
2 circled also come from clonally expanded cells, but the
3 data we have don't allow me to conclude that
4 definitively.

5 So, here are the seven genes what we have good
6 evidence that there can be clonal expansion when it's
7 driven by the provirus. And there are a couple of
8 things I want you to focus on. First, if we simply
9 look at the fact that there is an enrichment of
10 proviruses in these genes and people have been infected
11 and on therapy for a long time, that's always true. If
12 you look at the next column over next to the circled
13 column, you'll see that there's also a very strong
14 preference for proviruses that are oriented in the same
15 orientation as the gene.

16 In the last column all the way over on the
17 right you see that some of the insertions are upstream
18 of the coding region, and some are in between the
19 coding exons. Finally, there's one last thing I want
20 you to take away from this slide. I don't think
21 there's any point in trying to ask you to pay much

1 attention to the name of the oncogenes that are
2 involved here.

3 But I do want to point out two things.

4 Although one of the genes that figures very prominently
5 is in these benign non-oncogenic clonal expansions, the
6 STAT5B, neither STAT3 nor LCK are on this list. It'll
7 be clear in just a few minutes why I think that's
8 important. However, although it's clear that there are
9 cases in which clonal expansion is either caused by or
10 sustained by integration of a provirus in an oncogene,
11 that actually turns out to represent only a small
12 fraction of the clonally expanded cells.

13 In most cases, the clonal expansion of HIV
14 infected T-cells is not caused by a provirus that's
15 integrated in an oncogene, but by the same forces or
16 factors that cause uninfected T-cells to clonally
17 expand and persist. That is antigen stimulation in
18 cytokines. However, there is -- there are two or three
19 percent of the clonally expanded cells in which there
20 is one of the insertions I just described on the last
21 slide. So although these events are at one level

1 fairly rare, in fact, many patients have these kinds of
2 provirally inserted, provirus driven clonal expansions.

3 So, I've shown you so far that the insertion
4 of a provirus can cause benign clonal expansion. As
5 far as we know insertion of a provirus in any one of
6 those seven oncogenes doesn't lead, at least so far, to
7 any kind of malignancy. Does that mean there can be no
8 malignancies that are caused by -- no T-cell
9 malignancies that are caused by HIV proviral insertion?

10 Unfortunately, the answer is in fact there are T-cell
11 lymphomas in which HIV proviruses make a direct
12 contribution.

13 Here's the reference for that. It's a paper
14 that John Mellors and I published last year. And I'll
15 show you a very small amount of data from that paper.
16 And I'll be happy to answer additional questions if
17 people have them. So we looked at a total of 15
18 different malignancies and at some human control
19 tissue. And what we saw was that if you looked
20 primarily at the T-cell lymphomas that there were in
21 fact five of the 15 samples that we had that had a very

1 high ratio of HIV DNA to globin DNA. That means there
2 were a lot of proviruses in the malignant tissue sample
3 that we have.

4 And in fact, there was considerably more than
5 one provirus per cell. And I'll come back to that in a
6 few minutes. I also want to point out that although
7 there are five positive samples, they actually come
8 from three donors. The samples 1A and 1B are from
9 separate lesions from one donor, and 12A and 12B are
10 from separate lesions from the second donor. So we
11 really only have samples from three donors.

12 We wanted to know if the lymphomas that are --
13 that we call 1A and 1B, which are both from the same
14 donor, represent cells that had a common origin. And
15 because they're T-cells, we can ask that question by
16 looking at the T-cell receptor. And what you can see
17 here is, if we look at the T-cell receptor in tumor 1A,
18 almost all the material comes from a single cell. It
19 has a particular rearranged T-cell receptor. Tumor 1B
20 has exactly the same rearranged T-cell receptor.

21 And although it's in the majority of the

1 cells, it's not as large a fraction as it is in tumor
2 1A. And when we looked at histological sections, the
3 tumor 1A was almost all tumor. Tumor 1b had some
4 normal tissue in it. So those data make sense. We
5 then looked in all of these samples: 1A, 1B, 12A, 12B
6 and another one called tumor 11. And all of them share
7 the fact that there are -- there is a clonally expanded
8 cell in the population in which there is a provirus
9 sitting in the STAT3 gene.

10 I do apologize, at the bottom of the slide it
11 says STAT instead of STAT3. That's my fault. It's my
12 error, and I didn't catch it in time. Importantly and
13 interestingly, the three samples at the bottom -- 12A,
14 12B, and 11 -- not only have a clonally expanded cell
15 with an integration in the STAT3 gene, we have a second
16 clonally expanded integration in the LCK gene. And
17 both STAT3 and LCK are known oncogenes.

18 I will also point out that the samples we got
19 for tumor 1A and 1B were from frozen tissue which
20 allowed us to do much more extensive and sophisticated
21 analysis, including RNA analysis on those samples. The

1 rest of the samples were formal and fixed and paraffin
2 embedded. And we were able to do DNA analysis but not
3 much else.

4 And finally, I want to point out that with the
5 possible exception of tumor 12b, all of the samples we
6 have, in addition to the primary clonally expanded
7 integration site, have lots of other integrations. And
8 what that strongly suggests is that all of these
9 tissues were heavily super infected and that for the
10 most part they were heavily super infected late in the
11 development of the tumor. We'll come back to that too.

12 So here are diagrams again of the STAT3 gene
13 and the LCK gene. And as before, the long horizontal
14 line represents the extent of the gene. The introns
15 are the skinny parts of the diagram. The exons are the
16 little vertical -- that look like little vertical bars.
17 This diagram is a little bit more complete than the one
18 I showed you before. The coding exons are the taller
19 bars. Non-coding is the shorter bar. So, for example,
20 at the very end of both of the diagrams, there's a
21 little bit of non-coding information.

1 And what you can see in tumor 1A and 1B, which
2 is what we expected based on the fact that these are
3 descended from a single cell, was that they have an
4 integration in the STAT3 gene in exactly the same
5 place. And also, as expected, the integrations in
6 tumor 11 and 12A and 12B are different from the ones in
7 1A and 1B.

8 So let's look a little bit at the provirus
9 that is driving the expression of STAT3 in tumor 1A and
10 1B. So, it turns out the provirus is highly deleted.
11 The blue arrow with the two arrow heads represents the
12 extent of the deletion. It removes most of the five
13 prime LTR, all of gag, and most of pol. The rest of
14 the viral genome appears to be intact. However, the
15 piece of the five prime LTR that contains the promoter
16 that would normally express the viral genetic
17 information has been lost in this deletion event.

18 And that suggested to us the possibility that
19 instead of the five prime LTR doing the driving it was
20 three prime LTR. And that turns out to be true. STAT3
21 is over expressed from the three prime LTR promoter.

1 Because these were frozen tissues, we were able to
2 isolate the RNA and sequence it in its entirety. That
3 sequenced RNA contains the viral LTR connected to
4 STAT3. The entire STAT3 coding region is expressed.
5 And it's over expressed at about 30 times as high as
6 the normal allele, which is still present.

7 There's one other quick thing I want to show
8 you. And that is if we look at 12A and 12B, it's not
9 surprising and we weren't surprised to see that the
10 integration in STAT3 was in exactly the same place in
11 both the 12A and 12B tumors. What we found quite
12 surprising when we looked at the integration for the
13 LCK gene 12A and 12B each has an integration in the
14 STAT -- in the LCK gene, but they're about five kb
15 apart.

16 And what that tells us is that in the
17 development of this tumor, which must have been a
18 multi-step process, one of the first things that
19 happened was the insertion of a provirus in the LCK
20 gene. And as those cells divided, there was subsequent
21 integration independently in two cells in two different

1 places in the LCK gene. And this provides very strong
2 evidence that the acquisition of the provirus in LCK
3 was an important influencing event in the development
4 of the tumor.

5 So what did we learn from looking at the
6 proviruses that are present in the tumor tissue? We
7 now know that HIV proviruses in STAT3 and LCK can play
8 an important role in the growth and development of
9 frank T-cell lymphomas. The integration of a provirus
10 in STAT3 and LCK does not directly cause the clonal
11 expansion of the cells in-vivo. This was an
12 astonishing result as far as we're concerned.

13 STAT3 and LCK are not on the list of the seven
14 oncogenes in which the provirus has caused a benign
15 clonal expansion in-vivo. What it suggests is that the
16 pathway to get the tumor and the pathway to get benign
17 clonal expansion at least as far as we can tell so far
18 are independent. The good news for us is that T-cell
19 lymphomas are rare. And that's true in both normal
20 individuals who are not HIV infected and in HIV
21 infected individuals.

1 Progression to the lymphomas appears to be a
2 multi-step process. And we know that in part because,
3 although I didn't talk about it, we found a somatic
4 cell mutation in the STAT3 that was LPR driven in
5 lymphomas 1A and 1B. And we know that in 12A, 12B, and
6 11 LCK is driven by a second HIV provirus.

7 For LTR promoter driven expression, Tat would
8 be expected to be required. And although again, I
9 didn't show you this -- it's described in the paper --
10 in the 1A and 1B lymphoma, Tat is actually expressed
11 because it's driven from the STAT3 promoter. The HIV
12 infected T-cell tumors we analyzed were almost all
13 heavily infected -- super infected late in their
14 development.

15 So let's get back to the important question we
16 began with, and that is how does the information we
17 have about what happens when HIV infects cells in-vivo
18 -- what does that -- how can we use that information to
19 better understand what happens when HIV vectors affect
20 host expression? For example, we know that the LTR
21 promoter has been removed from a self-inactivating

1 vector. Thus, in the same vectors, there's no LTR
2 promoter that could drive host expression.

3 However, SIN vectors do not -- do have -- they
4 all have to have some sort of internal promoter.

5 Moreover -- and I think this is very important --
6 deletions and other changes arise very frequently in
7 HIV and other retroviruses and their vectors. And
8 changes in the structure of the provirus -- and I
9 showed you the deletion for the STAT3 thriving provirus
10 in 1A and 1B -- those kinds of changes can affect the
11 ability of the provirus to alter the expression of host
12 genes.

13 In our case, the primary targets for HIV
14 infection is CD4+ T-cells. T-cells are quite rare in
15 both those who are infected and not infected. However,
16 animal vitals that are based on non-Lenti retroviruses
17 suggest its susceptibility to tumor genesis is both
18 very dependent on the cell type that's infected and the
19 virus type that's involved and suggest that there may
20 well be substantial differences in terms of what
21 happens with vectors -- actually, in HIV and among

1 vectors.

2 And finally, the conversion of the normal
3 cells with -- to a tumor cell, at least for the T-cells
4 and probably for a lot of other things, is a multi-step
5 process. And having multiple proviruses in the
6 infected cells will almost certainly increase the risk.
7 That was true in the case of STAT3 plus LCK and
8 probably in the non-human primate that I referred to at
9 the very beginning.

10 And I would like very much to thank my
11 colleagues who worked with me on these projects. And
12 of course to the patients who volunteered the samples
13 that allowed us to do the work. I thank you for your
14 patience and attention. And I do apologize for the
15 computer.

16 **DR. LISA BUTTERFIELD:** All right. Thank you
17 very much, Dr. Hughes. Appreciate those data. So,
18 what we have next is a very short 10-minute break for
19 everyone. When we come back, we'll continue with an
20 FDA presentation.

21

1 **[BREAK]**

2

3 **FDA PRESENTATION RISK OF INSERTIONAL ONCOGENESIS WITH**
4 **ELI-CEL, LOVO-CEL, AND BETI-CEL**

5

6 **MR. MICHAEL KAWCZYNSKI:** Hi and welcome back
7 to the 72nd Cellular Tissue and Gene Therapy Advisory
8 Committee meeting. Let's get reconvened for the
9 afternoon session. Dr. Butterfield, if you'd like to
10 take it away.

11 **DR. LISA BUTTERFIELD:** Welcome back, everyone.
12 We have a final talk in this second session on safety,
13 and that will be from Dr. Crisafi from the FDA.

14 **DR. LEAH CRISAFI:** Thank you, Dr. Butterfield,
15 and good afternoon. My name again is Dr. Leah Crisafi,
16 and I'm a medical officer in OTAT. I will present the
17 risk of insertional oncogenesis with eli-cel and two
18 related bluebird bio products: lovo-cel and beti-cel.

19 In eli-cel and lovo-cel clinical studies, the
20 development of cancer in five subjects has called into
21 question the safety of these products and has shifted

1 the benefit/risk assessment. Myelodysplastic syndrome
2 has been diagnosed in 3 out of 67 subjects treated with
3 eli-cel, and there are additional cases of concern from
4 malignancy where eli-cel treated subjects have
5 expanding clones that contain vector integration into a
6 proto-oncogene.

7 Lovo-cel, a related product developed for the
8 treatment of sickle cell disease, has been administered
9 to 49 subjects; 2 of whom died from acute myeloid
10 leukemia. However, multiple factors confound the
11 determination of causality in these AML cases. At
12 least three additional subjects treated with lovo-cel
13 are of concern for developing malignancy.

14 The third product, beti-cel, was developed for
15 the treatment of beta-thalassemia. Betti-cel is nearly
16 identical to lovo-cel. Fifty-nine subjects have been
17 treated with beti-cel in clinical studies, and none
18 have been diagnosed with cancer. However, there are
19 concerning instances of prolonged thrombocytopenia of
20 unclear etiology.

21 In this presentation, I will briefly provide

1 some background on myelodysplastic syndrome, the
2 potential for insertional oncogenesis, and a comparison
3 of the three related gene therapy products. I will
4 then discuss specific cases of eli-cel and lovo-cel
5 treated subjects. Because I have limited time, I will
6 not mention all subjects where there is a specific
7 concern for the development of malignancy. My goal is
8 for you to understand the cancer cases, how they
9 develop, the data about the vector integration, and why
10 we are concerned that additional malignancies may be
11 identified in the future.

12 First up is an overview of myelodysplastic
13 syndrome or MDS. MDS is a malignancy of the bone
14 marrow that usually has three components. These are
15 dysplastic stem cells, peripheral cytopenias, and
16 genetic evidence of clonal hematopoiesis.

17 The figure on the right shows the aspects of
18 the hematopoiesis relevant to MDS with the three
19 components denoted by the red boxes. MDS has no
20 association with pediatric CALD and is rare in the
21 overall pediatric population with an incidence of one

1 to four cases diagnosed per million children per year.

2 The prognosis of MDS in children is variable
3 and multifactorial. It is important to note that MDS
4 is life-threatening with a three-year overall survival
5 rate of 35 percent for pediatric MDS patients treated
6 with a hematopoietic stem cell transplant from a
7 matched, unrelated donor. Also, approximately one-
8 third of MDS cases progress to acute myeloid leukemia,
9 which is difficult to treat and has a particularly poor
10 prognosis.

11 Next, I will provide background on the
12 potential for lentiviral vectors to cause malignancy.

13 Lentiviral vectors are used for gene therapy because
14 they permanently integrate into the host-cell genome
15 allowing long-term expression of the transgenes that
16 they were designed to deliver. Integration sites are
17 random in that they are not targeted to a certain
18 location although lentiviruses are thought to integrate
19 preferentially into areas of active transcription.

20 Wherever they integrate, they have the
21 potential to alter expression of nearby genes including

1 genes that may factor in the development of cancer such
2 as proto-oncogenes and tumor suppressor genes. There
3 are several high-level mechanisms for altering gene
4 expression and thereby promoting oncogenesis, including
5 viral activation of host cell gene transcription,
6 altered host cell RNA processing, and tumor suppressor
7 gene inactivation. Viral activation of cellular gene
8 transcription appears to have been a factor in the
9 development of malignancy in the CALD cases, which you
10 will hear more about shortly.

11 While the cases I'm presenting today are the
12 first cases of malignancy that have been attributed to
13 lentiviral vectors, as we have heard, vectors of
14 another retroviral class, gamma retrovirus, appeared to
15 have caused cancer in a number of other diseases. And
16 because of the risk of hematologic malignancy due to
17 integration of lentiviral vectors, FDA recommends that
18 clinical studies include assays to assess the pattern
19 of vector integration sites. The next slide will
20 demonstrate how the applicant applied this
21 recommendation to their studies.

1 The applicant incorporated integration site
2 analysis from monitoring patterns of integration sites
3 in peripheral blood cells. The method for performing
4 integration site analysis changed during the study but,
5 since mid-2019, has been S-EPTS/LM-PCR which provides
6 more accurate data than the previously used method.
7 The algorithm for assessment is depicted in the figure
8 on the right, and it has changed several times during
9 the eli-cel studies in response to recognition of the
10 algorithm's limitations with accumulated experience.
11 The values that came from these assessments are defined
12 on the left.

13 Overall, vector copy number is the number of
14 copies of vector per cell in a mixed group of cells;
15 some of which may not contain any copies of the vector.

16 Integration site relative frequency is the
17 percent of vector integrations that occur within a
18 specific site based on the S-EPTS/LM-PCR method. Per
19 the algorithm, when the overall vector copy number was
20 greater than 0.3 copies per displayed genome and any
21 relative integration site frequency was greater than 30

1 percent, confirmatory qPCR was performed to determine
2 integration site-specific vector copy number.

3 Integration site-specific vector copy number
4 is the number of copies of vector located in a specific
5 integration site in a mixed population of cells. And
6 an integration site-specific vector copy number of
7 greater than 0.5 copies per deployed genome would mean
8 that half of the cells contained that specific
9 integration site. And this was the criterion for a
10 predominant clone and prompted initiation of a clinical
11 workup for malignancy in the bluebird bio studies.

12 Next, I will compare the three related
13 bluebird bio products: eli-cel, lovo-cel, and beti-cel.
14 The Lenti-D vector RNA is pictured in the top figure.
15 Lenti-D is used to manufacture eli-cel for the
16 treatment of CALD. The BB305 lentiviral vector RNA is
17 pictured on the bottom. It is used to manufacture
18 lovo-cel for the treatment of sickle-cell disease and
19 beti-cel for the treatment of beta-thalassemia.

20 From left to right, both vectors contain the R
21 and U5 domains, a psi-packaging signal, central

1 polypurine tract DNA flap, and rev-responsive elements.

2 Then come the vector-specific components. The
3 Lenti-D vector has an MNDU3 gamma retroviral enhancer/
4 promoter that is continuously active. The MNDU3
5 enhancer/promoter drives transcription of the ABCD1
6 transgene. The BB305 Lentiviral vector-specific
7 components include an erythroid lineage-specific beta-
8 globin locus control region and a promotor sequence to
9 promote expression in erythroid cells of the beta
10 AT87Q-globin transgene, which resembles the intron and
11 exon structure of the wild-type, beta-globin gene.

12 To the right on the figures are the shared
13 polypurine tract, unique three prime region of the
14 long-terminal repeat, and the polyadenylated tail.
15 After integration into the cellular genome, the
16 backbones of the Lenti-D and BB305 vector genomes are
17 identical.

18 Now that you have heard about the potential
19 for lentiviral-mediated malignancy and the similarities
20 between the vectors that are used in the manufacture of
21 eli-cel, lovo-cel, and beti-cel, I will describe the

1 individual cancer cases and other cases of concern.

2 First up are the cases of malignancy after
3 eli-cel. Three subjects with CALD who have been
4 treated with eli-cel have been diagnosed with cancer,
5 and all three cases have been classified by the
6 applicants as likely related to eli-cel. In this
7 table, the three subjects are listed across the top. I
8 will highlight similarities among the subjects in the
9 red box, and then come back to the third subject, 102-
10 03, who is in the far-right column.

11 Both 104-08 and 104-18 were treated in Study
12 ALD 104. Both developed MDS in the second year after
13 eli-cel administration. Both had primary engraftment
14 failure for platelets. Both also were similar in that
15 they had integration into the proto-oncogene MECOM with
16 a high relative frequency identified at six months.
17 Both had increased expression of EVI1. Both were
18 diagnosed with the same type of MDS, MDS with single
19 lineage dysplasia affecting megakaryocytes.

20 Now we will look at the integration site data
21 for 104-08 and 104-18. These figures show the

1 integration site relative frequencies for Subjects 104-
2 08 and 104-18 at the time each subject developed MDS,
3 which was at 22 months for 104-08 and at 14 months for
4 104-18. In each relative frequency pie chart, the
5 MECOM integration site is colored in blue, and the
6 integration site center also in the MECOM containing
7 clone are in pink. The integration sites that are not
8 located in the clone are white, light gray, and dark
9 gray with the dark gray area representing numerous
10 integration sites with the lowest relative frequencies.

11 You can see that Subject 104-08 had a single
12 clone with four integration sites, including MECOM,
13 and, at the time he developed MDS, more than 75 percent
14 of the integration sites found in peripheral blood
15 cells were derived from that single clone.

16 Subject 104-18 had a single clone with two
17 integration sites including MECOM, and those made up
18 less than 50 percent of the integration sites found in
19 the peripheral blood at the time he was diagnosed with
20 MDS. Integration into MECOM in these clones has been
21 determined likely to have caused MDS in these two

1 subjects.

2 Now, we will consider the third subject

3 diagnosed with MDS after eli-cel administration.

4 Subject 102-03 was different in many ways from 104-08

5 and 104-18. He was treated in Study ALD-102 and not

6 104 and at a much younger age and was diagnosed with

7 MDS much longer after being treated with eli-cel.

8 Rather than having been identified at risk based on

9 integration site analysis, he presented with

10 symptomatic anemia and thrombocytopenia seven and half

11 years after treatment of eli-cel.

12 Another distinction with this subject is that

13 he had a diagnosis of MDS with excess blasts, not MDS

14 with single lineage dysplasia. Also of note is that he

15 did not have integration into MECOM. He instead had

16 integration into the proto-oncogene PRDM16 and several

17 other genes that likely contributed to his developing

18 cancer. The next slide will include additional details

19 about this case.

20 Subject 102-03 had an unremarkable integration

21 site analysis at Year 5, which was his last assessment

1 before he presented with anemia and thrombocytopenia
2 two and half years later. His bone marrow biopsy was
3 interpreted as MDS with excess blasts-2 based on the
4 bone marrow blast percentage following just below the
5 current threshold for leukemia, which is 20 percent.

6 It is notable that the bone marrow biopsy was
7 interpreted as "worrisome for evolving AML" based on
8 higher percentages of blasts in some foci and that his
9 blast percentage will constitute leukemia after updates
10 to classifications are published in the near future.

11 He had a clone with six integration sites that
12 represented 92 percent of vector-containing cells when
13 he was diagnosed with cancer. The pie charts show that
14 PRDM16, in blue, was detected as an integration site
15 with a relative frequency of 2.2 percent at Year 5, and
16 that, at Year 7.5, the relative frequency for PRDN16
17 have increased to 18.2 percent.

18 In pinks are the five other integration sites
19 that are in the same clone as the PRDM16 integration
20 sites. Based on the protein expression data and known
21 functions of the five other genes, it appears that at

1 least several integration sites in addition to PRDM16
2 contributed to this subject's development of cancer.

3 This figure shows the relative frequencies of
4 the main integration sites for this subject plotted
5 over time. On the right, I have labeled the genes that
6 may have contributed to this case of malignancy. We
7 can see PRDM16's presence at a low relative frequency
8 at 60 months and sharp increase in relative frequency
9 at Year 7.5, likewise, for MIR106A and GAB3.

10 The purpose of the figure is to show how the
11 integration sites that appear to have led to this case
12 of cancer were not prominent early on, emerged among
13 the top ten integration sites at Year 5, and increased
14 over the next two and a half years until the child was
15 diagnosed with cancer.

16 Now, we will move on to the four cases of
17 greatest concern for developing malignancy among eli-
18 cel-treated subjects with abnormal findings in these
19 children included on this slide. All have a clone with
20 integrations into MECOM. The two on the left have had
21 gene expression studies performed, and those revealed

1 increased EVI1 in both cases. EVI1 is a transcript of
2 the MECOM locus but was treated with poor prognosis in
3 MDS and had also been elevated in subjects 104-08 and
4 104-18 with MDS we just discussed.

5 Bone marrow biopsies revealed hypocellularity
6 and, in 102-11 and 104-09, megakaryocyte abnormalities.
7 Most recent CBCs for these subjects are mostly normal
8 with the exceptions of a mild thrombocytopenia for 104-
9 09 and 104-22 and mild anemia for Subject 102-31.
10 Next, we will consider the integration site analysis
11 data for each of these subjects.

12 The pie chart on the left shows the relative
13 frequency for each integration site at Month 30 for
14 Subject 104-09. The colored segments represent the
15 seven integration sites of highest relative frequency,
16 and the gray area represents all the remaining
17 integration sites.

18 The figure on the right shows integration site
19 relative frequencies but plotted over time. There are
20 several points that I would like to make while looking
21 at these figures.

1 The first point is that these seven
2 integration sites seem to be divided between two
3 clones. One clone is the blue and yellow clone that
4 appears to be expanding. As shown in the figure on the
5 right, its integration sites LINC00982 and SMG6
6 increased in combined relative frequency from 18 to 25
7 percent between Months 24 and 30.

8 The second point is that there is another
9 clone that I will refer to as the DEFB132 clone. Based
10 on the integration sites that are tracking with the red
11 DEFB132 line in the figure on the right, the clone
12 appears to have multiple integration sites with a
13 combined relative frequency of 15 to 20 percent.
14 Additionally, the DEFB132 clone may include an
15 integration site in MECOM. Both the blue and yellow
16 clone and the DEFB132 clone are worrisome for becoming
17 malignant.

18 Now that we have looked at the trends in
19 integration site analysis for this subject based on the
20 S-EPTS/LM-PCR, I will provide additional data that add
21 to our concern about these clones.

1 This table shows the qPCR data from Month 30
2 for three genes with S-EPTS/LM-PCR results provided in
3 the far-right column for reference. The integration
4 site-specific vector copy number in the middle shows at
5 LINC00982 and SMG6 are present in the same clone that
6 accounts for 59 percent of cells. The table also shows
7 that there is a clone containing a MECOM integration
8 comprising 11 percent of cells in peripheral blood.

9 Also worrisome with this subject is his vector
10 copy number trend. The vector copy number has been
11 increasing in his peripheral blood and has exceeded the
12 drug product vector copy number, which is yet another
13 signal of clonal expansion.

14 In summary, despite the subject's early
15 diagnosis of parvovirus that is a cause of cytopenia,
16 now two years post eli-cel, he has persistent
17 thrombocytopenia, hypercellular bone marrow with
18 atypical megakaryocytes, integration into MECOM, and
19 evidence of clonal expansion. And FDA is very
20 concerned about him developing malignancy.

21 Subject 102-11 is another subject who appears

1 to be at risk for developing malignancy. He has a
2 clone with integrations in MECOM and two other genes,
3 and the clone has expanded over time to account for 100
4 percent of the subject's integration sites. In
5 addition, a vector copy number approximating one
6 indicates that this clone comprises nearly all the
7 myeloid progenitor cells.

8 Also concerning is the increased expression of
9 EVI1 in this subject. His bone marrow findings vector
10 integration data and increased expression of EVI1 are
11 concerning for the development of malignancy.

12 Subject 102-31 is another subject who appears
13 to be at risk for developing malignancy. The pie chart
14 on the right depicts integration site-relative
15 frequencies in this subject at Month 42 and Month 48.
16 He appears to have two notable clones. In the blues
17 are the relative frequencies of integration sites in
18 MECOM and EVI5 that are located in a clone that appears
19 to be expanding in size and, at Month 48, represented
20 almost 60 percent of integration sites.

21 The subject also has a second clone

1 represented in the pie chart by the pinks that appears
2 to be decreasing in size. This subject is concerning
3 because of the expansion of the MECOM-containing clone
4 and increased expression of EVI1 because abnormalities
5 on bone marrow biopsy and CBC are unexplained and could
6 signal impending development of a hematologic
7 malignancy.

8 The last CALD subject I will briefly present
9 is 104-22. He has integration sites in MECOM and MPL
10 that appear to be expanding. The pie charts show his
11 integration site-relative frequencies at 6, 12, and 18
12 months for the MPL and MECOM integration sites. The
13 relative frequency for MPL is shown in yellow and has
14 clearly increased between Months 6 and 18. One MECOM
15 integration site was noted at six months and appears to
16 have increased in relative frequency between Months 6
17 and 18 as well.

18 Also notable is that there are four additional
19 MECOM integration sites that were noted at 18 months
20 but not at 6 and 12 months. These integration sites
21 are of a comparatively low frequency but notable

1 because of their location in the MECOM proto-oncogene
2 and because they appear to be increasing in relative
3 frequency as well. The subject deserves close
4 monitoring for further evidence of clonal expansion and
5 for persistence of his unexplained thrombocytopenia.

6 Five of the six CALD subjects I've described
7 have a MECOM integration site in the problematic clone.
8 I wanted to, therefore, say a few words about MECOM and
9 mention a few other significant proto-oncogenes that
10 are common integration sites in eli-cel-treated
11 subjects. The full name for MECOM is the MDS1 and EVI1
12 complex locus, and it is a known oncogene involved in
13 myeloid malignancies. The MECOM locus can yield one of
14 several proteins including the oncoprotein EVI1. EVI1
15 expression was assessed in limited instances in the
16 CALD studies, and, in all of these instances that I am
17 aware of, EVI1 was found to be overexpressed.

18 My final point about integration into MECOM is
19 that it is nearly universal with eli-cel. Of 54
20 subjects who had integration site data available by
21 October 2021, 53 had at least one integration site in

1 MECOM, which means that virtually all subjects may be
2 at increased risk of developing cancer related to
3 integration into the MECOM proto-oncogene.

4 PRDM16, MPL, and MIR100HG are also proto-
5 oncogenes where the vector seems to have frequently
6 integrated, and the vector's repeated integrations into
7 MECOM and these other proto-oncogenes are very
8 concerning for potentially contributing to additional
9 cases of malignancy.

10 Now, I will move on to covering malignancy in
11 subjects with sickle cell disease who have been treated
12 with lovo-cel. In contrast to CALD, which does not
13 confer an increased risk of hematologic malignancy, it
14 appears that the risk is increased in patients with
15 sickle cell disease. Twenty-six years of data from the
16 California Cancer Registry renews to evaluate the risk
17 of malignancy in sickle cell disease as compared to the
18 general population. These data demonstrate that the
19 incidence of AML in sickle cell disease is 0.1 percent
20 based on the occurrence of 6 cases in 6,243 sickle cell
21 disease patients.

1 In contrast, 2 of 49 subjects treated with
2 lovo-cel have developed acute myeloid leukemia. This
3 makes the incidence of AML four percent, which is 40
4 times higher than the incidence of AML observed in the
5 California Cancer Registry study.

6 This table includes some details about these
7 two subjects. Subject 206-01 in the middle column was
8 diagnosed with AML five and half years after treatment
9 with lovo-cel. The clone contained a single
10 integration site in the VAMP4 gene. In addition to the
11 VAMP4 integration, the clone had other cytogenetic
12 abnormalities that are listed on this slide.

13 Subject 206-02 in the right column was
14 diagnosed with MDS and then AML in his fourth year
15 after treatment with lovo-cel. He did not have a
16 predominant clone and did not have any vector
17 integrations in the blast. However, he had several
18 cytogenetic abnormalities that are also listed on this
19 slide.

20 It is notable that both of these subjects had
21 monosomy 7 and mutations in RUNX1 and PTPN11. The

1 applicant has called these other mutations the driver
2 mutations which may be reasonable; however, FDA does
3 not agree that the presence of other driver mutations
4 excludes the possibility that lovo-cel contributed to
5 these malignancies. Rather, we find the four percent
6 incidence of AML and the similar cytogenetics
7 suggestive of a common tumorigenesis pathway that could
8 be related to lovo-cel.

9 In addition to the two subjects who have been
10 diagnosed with AML, there are two subjects who have
11 bone marrow biopsies concerning for MDS. These two
12 subjects are both of the same sickle cell disease
13 genotype and have other similarities that I will
14 highlight.

15 Starting with Subject 206-27, she has had
16 persistent severe anemia since her treatment with lovo-
17 cel and is transfusion-dependent. Her bone marrow
18 smears demonstrated dyserythropoiesis and a diagnosis
19 of MDS was considered. However, she ultimately was
20 given a diagnosis of stress erythropoiesis secondary to
21 hemolysis and persistent hemoglobinopathy. Cytogenetic

1 abnormalities included transient trisomy 8 and
2 tetrasomy 8. The subject also has several additional
3 genetic variants that appear to have been present prior
4 to lovo-cel, including a pathogenic ATM variant.

5 I will now move on to Subject 206-32. He has
6 also had persistent anemia since the treatment with
7 lovo-cel although he is not transfusion-dependent. He
8 does have vitamin B12 deficiency that could be a factor
9 in his anemia. His bone marrow biopsy, like 206-27,
10 demonstrates dyserythropoiesis and was interpreted as
11 likely stress erythropoiesis.

12 In another parallel to 206-27, Subject 206-32
13 also has findings of trisomy and tetrasomy 8. We are
14 concerned about the possibility of malignancy in these
15 subjects mainly because of their erythroid dysplasia
16 and because of the trisomy 8, as trisomy 8 is the most
17 common trisomy seen in myeloid malignancies.

18 Adding to our concern for the risk of
19 malignancy in 206-27 is the pathogenic ATM variant.
20 While the variant is not attributable to lovo-cel, the
21 administration of lovo-cel may contribute to the

1 development of malignancy in this subject who was
2 already at elevated risk. Adding to our concern for
3 the risk of malignancy in 206-32 is the trending vector
4 copy number which is consistent with expansion of a
5 lovo-cel clone. The vector copy number in his
6 peripheral blood is higher than the administered lovo-
7 cel product vector copy number of three copies per
8 deployed genome, and it appears to be increasing over
9 time.

10 The applicant has concluded that these cases
11 of persistent anemia and abnormalities on bone marrow
12 biopsy are due to these subjects' specific genotype.
13 However, this has not been proven.

14 Returning to the concept that myelodysplastic
15 syndrome is characterized by dysplastic stem cells,
16 peripheral cytopenia, and genetic evidence of clonal
17 hematopoiesis, and, given the similarities in these
18 subjects' cytogenetic findings, it seems that the
19 possibility of them developing MDS with a similar
20 tumorigenesis pathway deserves close consideration.

21 I have one last subject to present. Subject

1 206-23 was treated with lovo-cel for sickle cell
2 disease, and he appears to have a clone with four
3 integration sites that is expanding and recently
4 surpassed 50 percent for the combined relative
5 frequency of the four integration sites.

6 These integration sites include two proto-
7 oncogenes involved in myeloid malignancy STAT3 and the
8 arguable proto-oncogene HMGA2. In addition, the
9 subject has a rising peripheral blood vector copy
10 number, although it is below the vector copy number of
11 5.1 for this subject's lovo-cel products.

12 Subject 206-23 is ultimately very concerning
13 because of the increasing vector copy number and
14 because of the large clones that contain integrations
15 into at least one proto-oncogene that could drive the
16 development of malignancy.

17 Returning to the overview of malignancy cases,
18 we have now reviewed the three MDS cases with eli-cel
19 as well as four additional cases of greatest concern
20 for developing malignancy. We've also heard about the
21 two AML cases and three additional cases of greatest

1 concern in subjects treated with lovo-cel.

2 Betti-cel is nearly identical to lovo-cel and
3 also has several cases of concern for potential
4 malignancy. However, my focus with regard to beti-cel
5 is to demonstrate the similarity of its integration
6 profile with lovo-cel in the final section of this
7 presentation. And so I will move on to briefly present
8 on the integration site patterns.

9 As previously mentioned, eli-cel seems to have
10 a propensity for integration into the MECOM proto-
11 oncogene. The problematic clone in five of the six
12 eli-cell-treated subjects that I have presented have an
13 integration into MECOM. Of the 54 eli-cel-treated
14 subjects with integration site data available, 53 or 98
15 percent had at least one MECOM integration site. Lovo-
16 cel and beti-cel do not have the same propensity for
17 integration into MECOM. However, VAMP4 is an
18 integration site of interest because one of the lovo-
19 cel subjects who developed AML had integration into
20 VAMP4. It is very concerning that VAMP4 is a common
21 integration site for these two products with 71 percent

1 of lovo-cel-treated subjects and 56 percent of beti-
2 cel-treated subjects having at least one integration
3 site into the VAMP4 gene.

4 The purpose of this slide is to demonstrate
5 that lovo-cel and beti-cel appear to have relatively
6 similar patterns of integration sites and that eli-
7 cel's integration sites are relatively different.
8 Lovo-cel is in blue, beti-cel in red, and eli-cel in
9 green. Only one gene appears to be a main integration
10 site for all three gene therapy products, and that is
11 the potential proto-oncogene HMGA2.

12 The red boxes identify genes with similar
13 frequencies of integration from lovo-cel and beti-cel,
14 and the blue box identifies genes that are proto-
15 oncogenes with a high relative frequency of integration
16 for eli-cel. These data suggest similarity in
17 integration site patterns from lovo-cel and beti-cel.

18 In summary, there is a significant risk of
19 malignancy with eli-cel administration. The current
20 incidence is four percent but is likely to increase.
21 Hematologic malignancy generally takes time to develop

1 whereas the duration of follow-up from many of the
2 subjects was relatively brief and may have been
3 insufficient for malignancy to have occurred.

4 I have presented four specific cases of
5 subjects treated with eli-cel where the risk of
6 progressing to malignancy seems high. I have also
7 shared that two of the three cases of malignancy
8 involved integration into the MECOM proto-oncogene,
9 which nearly every eli-cel-treated subject has. The
10 incidence of hematologic malignancy after treatment
11 with the related product lovo-cel is currently four
12 percent greatly exceeding the 0.1 percent incidence in
13 the overall sickle cell disease population.

14 The two AML cases and the two stress
15 erythropoiesis cases each have parallels that suggest a
16 common tumorigenesis pathway that lovo-cel may
17 contribute to. However, the contribution of lovo-cel
18 to these cases is not clear. It is also not clear how
19 the safety data for eli-cel informs a safety of beti-
20 cel and lovo-cel and vice versa.

21 I thank you for your attention and look

1 forward to your discussion of the serious and yet
2 important, not completely characterized risk of
3 insertional oncogenesis.

4

5 **CLARIFYING QUESTIONS TO PRESENTERS**

6

7 **DR. LISA BUTTERFIELD:** Terrific. Well, thank
8 you very much to all three of our speakers. So we have
9 an opportunity now to ask some clarifying questions of
10 our speakers from NCI, FDA, and bluebird bio. And
11 especially I know some of those were cut a little short
12 in the earlier session, so now is the time to ask your
13 MDS and integration-type questions. So let's start
14 with Dr. Coffin then Dr. M. and Dr. Keller.

15 **DR. JOHN COFFIN:** I have a bunch of questions
16 of both the last two speakers. In the first place,
17 interpreting these numbers is quite difficult the way
18 they've been given to us. We don't know what the
19 denominator is. How many integration sites were looked
20 at in these studies? I mean, integration, we have data
21 actually. Steve knows. Dr. Hughes knows about this as

1 well because in collaboration with him and his
2 colleagues in Frederick all the in vivo and in vitro
3 integration from HIV or HIV vectors into CD34 cells.
4 And, in round numbers, it's on the order of 1 in 10,000
5 integrations ex vivo. In a cell-culture model, is into
6 either MECOM or PRDM16.

7 So, if you look at 10,000 integrations, you
8 often see one. If you only looked at a hundred
9 integrations and you see one, it's quite meaningful.
10 If you look at 10 to 100,000 integrations and you see
11 one, it's more. There's fewer than you would expect.
12 So this denominator here is really important, and, if
13 somebody could help me with that, that would be very
14 nice.

15 I have a bunch of other questions too. I can
16 go through them all if you want, or we can come back.

17 **DR. LISA BUTTERFIELD:** Why don't we go one by
18 one.

19 **DR. JOHN COFFIN:** Okay. All right then.

20 **DR. LISA BUTTERFIELD:** Dr. Bonner, do you want
21 to start?

1 **DR. MELISSA BONNER:** Yes. Thank you. So you
2 are correct, right. The denominator is really
3 important here. In most of our patients when we
4 analyzed a single peripheral blood sample at each time
5 point, we will get thousands of unique insertion sites
6 and unique genes. And the numbers that you are hearing
7 -- so, for example, I can tell you right now that, in
8 our CALD program, 98 percent of patients treated with
9 eli-cel have at least 1 insertion in PRDM16.

10 That is amongst the hundreds of thousands that
11 have been detected over the entire time span that we
12 have been conducting integration site analysis. The
13 vast majority of those integration sites are at
14 relative frequencies that are substantially less than
15 one percent, and they do not typically hit the top ten
16 integration sites.

17 **DR. JOHN COFFIN:** Yeah, I more accurate number
18 would be nice, I have to say. You know, what the
19 denominator actually is in each specific case would be
20 quite meaningful. And you apparently are not prepared
21 with sharing this right now.

1 Another question, as Dr. Hughes pointed out,
2 it's very clear in the cases where you do get
3 insertional activation -- activation's a bad word, but
4 it's one that's commonly used -- disruption of gene
5 expression. The proviruses tend to all be in the same
6 orientation and tend to all be in a common location or
7 at least in one or a few neighboring introns, and this
8 is what we see over and over again in this. And we saw
9 nothing about the location of these.

10 I'd feel very differently about integrations
11 in all those different patients if they were all in the
12 same intron and all pointed in the same direction than
13 if they were scattered across the gene.

14 **DR. MELISSA BONNER:** Yep, can I have Slide 2
15 up, please? This is a snapshot of what we see when we
16 look across the genome. The vast majority of our
17 insertions are intronic followed by intergenic and then
18 there are handfuls that are exonic. And, as you can
19 see on the right-hand side, this is a representative
20 gene and going from left to right annotated on the
21 bottom are the exons, and then obviously in between

1 those are the introns. And on the row above that, each
2 triangle is an insertion site that has been identified
3 within that gene. So you can see that they again fall
4 typically entronically, and they are not enriched for any
5 one particular position within a gene. I can show you
6 this for other genes if you would like.

7 **DR. JOHN COFFIN:** Show it to me from MECOM?

8 **DR. MELISSA BONNER:** I can. Slide 1 up,
9 please. So this is what the MECOM locus looks like,
10 and, as you can see, it is a common insertion site.

11 **DR. JOHN COFFIN:** Okay.

12 **DR. MELISSA BONNER:** We see MECOM insertions
13 in all patients, and it is across the locus. There is
14 no specificity in terms of where it inserts other than
15 it being intronic. There's no preference for the
16 directionality and if I could actually Slide 2 up,
17 please. And then we're not the only ones to see this.

18 The MECOM is a common insertion site for
19 lentiviral vectors and for hematopoietic stem cell
20 oncogene therapy.

21 **DR. JOHN COFFIN:** It's not in our data set. I

1 mean, it's an okay integration site, but it's not one
2 of the biggies.

3 **DR. MELISSA BONNER:** Well, this is an ex vivo
4 genetically modified hematopoietic stem cell with a
5 lentiviral vector that is very different from HIV.

6 **DR. JOHN COFFIN:** That makes very little
7 difference to integration. The structure that
8 integrates, for all practical purposes, is the same, I
9 think, as Dr. Hughes pointed out.

10 **DR. MELISSA BONNER:** Yes, HIV -- the target
11 cell for HIV is a T cell, and HSCs are actually highly
12 refractory to HIV infection. And that is actually why
13 LVVs had to be redesigned in order to actually achieve
14 transaction efficiency in hematopoietic stem cells, for
15 example, changing our fusion routine.

16 **DR. JOHN COFFIN:** Well, yeah. But part of
17 that -- it's mostly the change in the envelope protein
18 so that it -- because these aren't (inaudible)
19 positive. But I'm still not entirely clear. That
20 distribution does look like it's everywhere. You don't
21 show the orientation there. The three colored

1 triangles you have are the three patients with MDS? Is
2 that correct?

3 **DR. MELISSA BONNER:** Two of them. Can we
4 actually get that slide back, please? Slide 1 up,
5 please? So two of these patients, Patient 104-18 and
6 104-08, which are the arrows that happen to be pointing
7 to the left, are patients that have been diagnosed with
8 MDS. Patient 102-11 has a clonal expansion that
9 appears to be stable, and that patient has not been
10 diagnosed with MDS and does not appear to have any
11 clinical signs of MDS.

12 **DR. JOHN COFFIN:** Okay. One more -- well, a
13 couple more questions. Have you looked at transcripts
14 at all in these patients? The understanding of what's
15 going on, a lot of that could be helped and so on. But
16 again, as you can see from Dr. Hughes' presentation, it
17 could be informed by looking at transcription patterns
18 of these of Hughes' genes. Do you have any data like
19 that?

20 **DR. MELISSA BONNER:** Yes, so we can conduct
21 the transcriptional analysis. I want to make it very

1 clear for everyone that the polyclonality of our
2 patients actually prohibits a useful RNA sequencing
3 analysis in the absence of a clonal expansion.

4 **DR. JOHN COFFIN:** It makes RNA seq difficult -
5 -

6 **DR. MELISSA BONNER:** Yep.

7 **DR. JOHN COFFIN:** -- but it would not prohibit
8 a focused PCR analysis for the primer near the -- in
9 the vector. And another one in --

10 **DR. MELISSA BONNER:** No, sorry. I would like
11 to clarify. What I meant is that the population is so
12 diverse that seeing any sort of transcriptional change
13 that could be associated with an insertion is difficult
14 because any given clone containing that insertion is
15 generally low in that population. So, unless you were
16 looking at a clonal population, it's exceedingly
17 difficult due to the limitations of the heterogeneous
18 sample.

19 There is a great example I can show you of our
20 VAMP4 analysis in our AML patient from our lovo-cel
21 program in sickle cell disease from February of 2021

1 where we did do a very robust RNA sequencing analysis
2 to look at whether or not the transgene was active in
3 the blast-enriched population and to see if there was
4 any impact on the surrounding genes. And I would be
5 happy to walk through that if that would be helpful.

6 **DR. JOHN COFFIN:** Well, what I'm more
7 interested in is with MECOM cases where the clones are
8 quite large. There's a pretty large fraction of -- a
9 lot of your transfused cells have that one integration
10 site. And a prediction would be that there is -- that
11 you're starting transcripts from the homologous within
12 your construct, and, if that's the case, that could be
13 satisfied by a focused PCR analysis. You don't need to
14 go through -- RNA seq can be difficult to interpret,
15 and I'll grant you that. But then I'm not talking
16 about overall RNA seq; I'm talking about more focus
17 analysis and test-specific hypothesis about how the
18 transcription might be current.

19 **DR. MELISSA BONNER:** So we have done those
20 focus analyses on the MECOM insertion sites -- sorry,
21 on the patients who have been treated with eli-cel who

1 have a clone within insertion in MECOM that have gone
2 to develop MDS, and we do see perturbed gene
3 expression, i.e. increased expression in the MECOM
4 locus in those cases.

5 **DR. JOHN COFFIN:** Last one. One more question
6 but perhaps when we come back if not.

7 **DR. LISA BUTTERFIELD:** Yeah, one more short
8 question, please, and then we'll move on to the other's
9 questions.

10 **DR. JOHN COFFIN:** Okay. Here's a simple
11 question. Have you or do you plan to look at the cell
12 sites that are sharing these clonal integrations? I
13 would expect that you would have some lymphocytes there
14 that it would transfuse (inaudible) all the
15 hematopoietic stem cells were it, right. And I would
16 expect some of those lymphocytes would have been
17 clonally expanded in response to antigen. Have you
18 looked at the cell types that have some of these other
19 clonally-expanded genes that are at the moment not
20 expanded?

21 **DR. MELISSA BONNER:** Sorry, I want to make

1 sure I'm understanding your question. So, as we're
2 doing an ex-vivo transduction of enriched CD34 positive
3 hematopoietic stem and progenitor cells, there are
4 exceedingly low T cell impurities within that product.
5 There are T cells that are resulting from the
6 transduced hematopoietic stem cells that engraft.

7 **DR. JOHN COFFIN:** That's fine. Thank you.
8 That's what I'm asking about.

9 **DR. MELISSA BONNER:** Yes, okay. So post-
10 treatment, we do a peripheral blood analyses that is
11 typically done on whole peripheral blood samples, and
12 we do not routinely look at lineage distribution unless
13 we are concerned that there might be an emergence of a
14 clone for example. So there were a few cases where we
15 have done this.

16 **DR. JOHN COFFIN:** Don't you think it would be
17 helpful to show this? I would expect that you would
18 see some of those in lymphocytes and that those
19 lymphocytes would likely to be expanding just as a part
20 of normal lymphocyte biology.

21 **DR. MELISSA BONNER:** Yes, so we do see those

1 sites also in lymphocytes, but they are much lower in
2 prevalence than compared to the myeloid population in
3 particular because likely MECOM is a myeloid gene.

4 **DR. LISA BUTTERFIELD:** Thank you very much.

5 Moving onto Dr. M. and then doctors Ott, Keller, and
6 Ahsan.

7 **DR. JAROSLAW MACIEJEWSKI:** Can you guys hear
8 me?

9 **DR. LISA BUTTERFIELD:** Yes.

10 **DR. JAROSLAW MACIEJEWSKI:** Oh, thank you.
11 Thank you for the presentation. I agree with a couple
12 of questions previously. I think, you know, if you
13 find lymphocytes scarring, you take them. But then it
14 would indicate that the dominant clone comes from a
15 stem cell as supposedly (inaudible) of a committed
16 progenitor. And you know, either way, it could be that
17 the virus hit the progenitor and it's small, it
18 produces a different effect.

19 But you guys probably have a hypothesis and
20 why is it that you have this enrichment for MECOM as an
21 integration site? And obviously the fact that it

1 should passenger and marker for actually something else
2 that happened genetically or whether this is sincerely
3 (inaudible) link indicates that there is something
4 autogenically [sic] going on.

5 And the reason I'm asking is because obviously
6 as you know, EVI1 or MECOM is a very common and good,
7 but not bad prognostic factor gene affected noting
8 myelodysplastic syndrome. This would be highly unusual
9 because these are highly progressive, and usually we
10 diagnose them as a stage of AML affecting the WHO
11 classification. This is an extra category with several
12 permutation, expression, and so on.

13 So, if it would be any other gene that this
14 nonrandomly occurring across the spectrum of patients,
15 this would be sort of, okay, right, particularly at the
16 integration site as seen two different direction and
17 (audio skip). But here we have EVI1 or MECOM being
18 very important but imminent oncogene that is activated
19 through inversion in acute leukemias. MDS would be
20 very, very rare with this because usually they are at
21 the stage of AML.

1 The other thing is that the nominators that --
2 so this is the first question. What is your thought
3 about it? But the second is of course, that the
4 nominator of three cases of MDS -- again, you know,
5 it's almost AML to me because of the nature of the
6 oncogene. But there are four other cases, and four
7 have the same MECOM again. So it's like really makes
8 it sort of frustratingly uncomfortable about this
9 particularly that these cases that are starting the
10 classification, if it would be any other mutation, we
11 would say, three of these patients have a chip and one
12 of the patients or two or maybe two patient have chip
13 and two have something that we would describe as
14 sequels because they have single lineage cytopenia
15 which is a clonal hematopoiesis with a single lineage
16 cytopenia.

17 So consider the sort of the increased risk for
18 development of later malignancy with the same mutation
19 in it (inaudible) on it. I would like to hear your
20 thought about these two questions.

21 I think I just wanted to point out that the

1 cases in the lovo-cel were that there's no integration.
2 It looked like the leukemic clone should displaced --
3 since it doesn't have integrated virus of any sort, it
4 would have displaced the (inaudible), the clones that
5 these cells use. So in any event, the leukemia would
6 sort of take over the result of our therapy transaction
7 because it would squeeze out the vitally used normal
8 cells. Go ahead.

9 **DR. MELISSA BONNER:** So to clarify then your
10 opinion --

11 **DR. JAROSLAW MACIEJEWSKI:** To summarize, what
12 is your thoughts why MECOM is so common and why -- if
13 you reduce the frequency and now you have seven cases
14 of clonal hematopoiesis that is suspicious of
15 progression either imminent or already occurring, and
16 the frequency would be not 4.1 percent but almost 10
17 percent, right, of this particular event. What is your
18 thought pathogenically what's happening because this
19 would, of course, instruct your method to monitor it?

20 **DR. MELISSA BONNER:** So it's a great question
21 of what is exactly causing MECOM to show up so

1 frequently as a common insertion site. I don't know
2 that I have a great answer. We see MECOM insertion
3 sites commonly in our eli-cel trial and across all of
4 our trials, and, in fact, most gene therapy trials that
5 are using an integrating retroviral vector for
6 hemopoietic stem cell gene therapy see MECOM as a
7 common insertion site.

8 Likely, it does have something to do with the
9 biology of the particular spot that the insertion is
10 within the MECOM locus. The orientation, the number of
11 other potential genetic abnormalities that could be
12 present already in that particular cell, the disease,
13 specific attributes of the vector, and the expression
14 of the transgene. So we do think that it is likely
15 multifactorial, but we don't have a distinct mechanism
16 for why this is the case.

17 I would like to remind everyone though that,
18 again, being a common insertion site means that it is
19 found in different patient samples. It doesn't mean
20 that it is prevalent in distribution samples.

21 **DR. JAROSLAW MACIEJEWSKI:** Sure.

1 **DR. MELISSA BONNER:** So we don't actually --
2 there is no meaning necessarily to it being a common
3 integration site other than that we can detect it with
4 our integration site analysis method. And so it is
5 uniquely traceable, and we can monitor clonal dynamics
6 over time.

7 I would add though that in the case of an
8 emergent malignancy -- so, for example, going back to
9 our experience with our sickle subject who developed
10 AML, the insertion site was not clearly predominant
11 within the population until the time of the blast
12 formation in the blast crisis for that patient. So
13 frequent monitoring is helpful for looking at clonal
14 dynamics. It's probably substantially more helpful for
15 the patient to have routine clinical care and routine
16 monitoring.

17 **DR. LISA BUTTERFIELD:** We should move I think
18 although these are important questions to really dig in
19 on in detail obviously central to our discussion. Dr.
20 Ott and then Dr. Keller next. Thank you.

21 **DR. MELANIE OTT:** Hi. Just wanted to focus

1 the attention on the MNDU3 promoter in your lentiviral
2 vector. This is not just any ubiquitous promoter,
3 expressing promoter. This is actually a promoter from
4 a myeloproliferative sarcoma virus that has been shown
5 to have effect on neighboring genes either on the same
6 gene expression plasmid or on neighboring host genes.

7 So I am just wondering why this promoter with
8 known potential myeloproliferative capacities have been
9 chosen and why has it been kept and what are the plans
10 to exchange it? That's my first question. I have a
11 few more.

12 **DR. MELISSA BONNER:** Yeah. So there are
13 different reasons -- okay. I'll start with the first
14 one. So we have a couple of different reasons that we
15 chose the MNDU3 promotor for the Lenti-D/lentiviral
16 vector. If I could have Slide 1 up, please. So as
17 stated previously, we don't actually know what
18 hematopoietic cell is responsible for crossing the
19 blood/brain barrier and long-term engrafting in the
20 central nervous system to have the therapeutic effect
21 that is necessary for stopping disease progression for

1 CALD.

2 CALD is not a hematologic disease, so, in
3 order for us to ensure that the appropriate cell was
4 expressing the ALDP protein in the CNS where it
5 actually matters the most, we needed to use a promoter
6 that would allow for expression across multiple cell
7 types that hematopoietic stem cells are responsible for
8 producing. And the MNDU3 promoter is an appropriate
9 promoter from that perspective.

10 In addition, a similar construct that used
11 that MNDU3 promoter and enhancer had already been
12 tested for a gene therapy for a CALD, and it was
13 demonstrated to be suitable. And notably there have
14 been no cases of MDS in that trial.

15 And thirdly, we did do many nonclinical
16 assessments utilizing the Lenti-D/lentiviral vector
17 both in vitro and in vivo in accepted models of
18 hematopoietic stem cell research. And, in the gene
19 therapy field, for example, the in vitro
20 immortalization assay and in all of our nonclinical
21 assessments, there was no quantifiable hazard

1 associated with the use of the Lenti-D/lentiviral
2 vector. And this includes no quantifiable risk of
3 oncogenesis.

4 So it passed all of the tests, and, because it
5 passed all of the tests, it also tells us that the
6 tests are probably not appropriate. So I,
7 unfortunately, think that if we were to, today, have a
8 different promoter that we test through all of these
9 tests, we could generate data to say, oh, maybe it
10 would perform better than the MNDU3 promoter from a
11 risk perspective. But, because the MNDU3 performed
12 well, I don't actually know that we have any leg to
13 stand on there.

14 And so I don't know that we could decrease the
15 risk and maintain the efficacy that we do see and that
16 is where the challenge lies. I mean, I think the other
17 thing to keep in mind is this was designed in 2010.
18 It's been over a decade to get to this point where we
19 actually are seeing these risks start to emerge. So I
20 don't think that making a change today could
21 necessarily allow us to predict a future product that

1 could potentially have a safer safety profile but
2 maintains that efficacy. And without suitable
3 nonclinical assays, I think it's going to be
4 exceedingly difficult.

5 **DR. MELANIE OTT:** Yeah, I would certainly
6 encourage you to look into this because I would not
7 keep a myeloproliferative virus promoter in a construct
8 that is causing MDS.

9 I think I also would like to point out that
10 EVI1 only come as a frequent integration site for
11 retroviruses as you have pointed out. It actually is
12 very frequently causing myeloproliferative diseases.
13 It does the defining insertion in a mouse model that
14 has myeloproliferative diseases. So I think the
15 combination of it -- the MNDU3 promoter and a MEOM
16 integration site -- might not be very favorable here.

17 My second question is --

18 **DR. LISA BUTTERFIELD:** Let's keep the
19 discussion part for the discussion and really focus on
20 questions and answers briefly. Thank you.

21 **DR. MELANIE OTT:** Okay. Next question is what

1 is your MOI that you're using in your transcription
2 protocol, and are you aiming for multiple integration
3 sites per cell? And is the multiple integration sites
4 per cell that we have seen for all these MECOM clones
5 and other clones in any way predictive or special for
6 these people that develop oligoclonality or MDS?

7 **DR. MELISSA BONNER:** I'm going to ask Dr. Ilya
8 Shestopalov to comment on our germ product manufacture.
9 While he's walking up here, I do want to add that the
10 mouse data they referred to, if I'm remembering
11 correctly, is from a syngeneic transplant and not a
12 xenotransplant.

13 **DR. MELANIE OTT:** Yes. It's syngeneic. Yeah.

14 **DR. MELISSA BONNER:** And so that is very
15 different, right, when we're doing our nonclinical
16 assays to test the relevant product. We're using a
17 xenotransplant to test human CD34 cells. Dr.
18 Shestopalov.

19 **DR. ILYA SHESTOPALOV:** Thank you, Melissa.
20 Again, I'm Dr. Shestopalov. I'm the head of analytics
21 at bluebird bio. So, to the question of MOI, that is

1 proprietary, but I'd like to remind folks that haven't
2 worked with CD34 cells that they are notoriously
3 difficult to transduce lentiviral vectors. In fact,
4 when I went into this field, some folks thought they
5 are untransducible and you need to generate CD34 cells
6 from IPF cells.

7 So we do use a high MOI to get enough vector
8 copies to have efficacy. Now, can I have the slide on
9 efficacy and vector copy number? I am -- as we're
10 waiting for the slide to come up. Because I think to
11 your question of what range of vector copy numbers
12 we're aiming to get. We're just waiting for a slide to
13 come up.

14 **DR. LISA BUTTERFIELD:** If you can keep
15 talking, we have three more questions.

16 **DR. ILYA SHESTOPALOV:** All right. Slide 2 up,
17 please. Sorry about that. All right. So --

18 **DR. MELANIE OTT:** Do you see multiple
19 integration sites in every cell or just in these clonal
20 expanded cells?

21 **DR. ILYA SHESTOPALOV:** Yes, so with vector

1 copy number, as you can see in the slide in the graph
2 on the left, we have -- the mean is about 1.4, right?
3 And we know that the two patients that progressed are
4 now below what we were proposing as our lower
5 specification limit. They're below 0.7.

6 So what does 1.4 mean? It's a distribution,
7 right? So 1.4 is an average. There's zeros, there's
8 ones, and there's typically a long tail of cells, and
9 that's why it's not perhaps surprising that we had a
10 patient where the clone that grew out actually had four
11 integrations, whereas the drug product that went in had
12 a vector copy number of 1.5. So that's the difficulty
13 of looking at drug product vector copy number, and, as
14 you can see our numbers are quite reasonable for the
15 vector copy numbers that we're achieving with our MOI.
16 Very rare clones could have multiple integration sites.

17 **DR. MELANIE OTT:** Thank you. My very quick
18 last question is myeloablation absolutely critical for
19 the success of your protocol, or can you do without
20 bone marrow population of your lentivirally transduced
21 cells?

1 **DR. MELISSA BONNER:** Myeloablation is critical
2 for all gene-modified hematopoietic stem cell
3 protocols.

4 **DR. MELANIE OTT:** Okay. Thank you.

5 **DR. LISA BUTTERFIELD:** Thank you. Let's move
6 to Dr. Keller, and then hopefully we'll get to Dr.
7 Ahsan and Dr. DiPersio.

8 **DR. STEPHANIE KELLER:** Hi. I just have a few
9 questions, but mine are short so. My first one would
10 be better for Dr. Raymond (phonetic) or Dr. Eichler.
11 In the boys that developed the MDS in regards to the
12 MDS and the stem cell transplant, did either of those
13 seem to affect their Loes score or their NFS?

14 **DR. MELISSA BONNER:** Dr. Eichler.

15 **DR. FLORIAN EICHLER:** Yeah, we don't see any
16 direct relationship between MDS and neurologic
17 function. The one boy who is longest out and was found
18 to have MDS has progressed in his Loes score, but the
19 other two have not.

20 **DR. STEPHANIE KELLER:** Okay. And then my
21 second question was -- and I think somebody tried to

1 allude to it earlier but -- in the boys that have the
2 MDS and the boys that are suspected of developing that,
3 there a little more cases of the 104 group. And they
4 certainly felt it much quicker than the boys who were
5 in the 102 group. Do you have any idea why they might
6 be developing that more quickly?

7 And I guess my question is just related to, if
8 we have a shorter follow-up period for the 104 group,
9 so if we had extrapolated that out, you would think
10 there would eventually be more cases than the 104 group
11 than the 102? So would that make you decide to use
12 more of the protocol for the 102 versus 104?

13 **DR. MELISSA BONNER:** I'm going to ask Dr.
14 Laura Demopoulos to address your question.

15 **DR. LAURA DEMOPOULOS:** Thank you and you're
16 right; I did briefly reference before that we've done
17 many analyses looking to see if there was something
18 about the conduct of the 104 study versus the 102 study
19 that might have led to some different manifestation of
20 MDS in its timing at least. And frankly, we were not
21 able to identify anything, so I don't have an answer

1 for that.

2 What I can tell you -- it is somewhat
3 speculative -- is that the two boys who presented in
4 the 104 study, who presented early, presented in a very
5 distinct way; they both had delayed platelet
6 engraftment and very early abnormalities in their ISA
7 studies.

8 No other subject in the 104 study has a
9 presentation like that at all, so, if that serves as
10 some bases of predicting what might happen to the rest
11 of the boys in that study with respect to a development
12 of MDS in that timeframe, we don't see any other cases.
13 And all the boys in that study have passed the follow-
14 up periods that allow us to be certain that they don't
15 have that same phenotype.

16 **DR. STEPHANIE KELLER:** Okay. And, again, does
17 that make you want to do more of the 102 group versus
18 the 104, or it's just not known yet?

19 **DR. MELISSA BONNER:** I'm sorry. Can you
20 repeat the question?

21 **DR. STEPHANIE KELLER:** Sorry. Does that make

1 you want to use the 102 protocol versus the 104 if this
2 is eventually proved?

3 **DR. MELISSA BONNER:** Dr. Demopoulos.

4 **DR. LAURA DEMOPOULOS:** Hi. No, because,
5 frankly, we really couldn't find anything that would
6 bias us towards using one approach versus another. It
7 would with any convincing evidence. So no, I think
8 everything that we foresee for post-marketing treatment
9 where there were variations between the two protocols -
10 - and they were relatively minor -- is going to be at
11 the discretion of the investigator or treating
12 physician.

13 **DR. STEPHANIE KELLER:** Okay.

14 **DR. LISA BUTTERFIELD:** Okay. We're going to
15 move to our last two questioners, and I'm hoping they
16 have perhaps one burning question to propose -- Dr.
17 Ahsan -- before we move to the group discussion.

18 **DR. TABASSUM AHSAN:** Hi. Thanks. I will try
19 to keep this short. I know we're short on time.

20 In looking at the official site frequency, you
21 looked across the programs and you set that at greater

1 than ten percent. I noticed that the FDA set
2 monitoring at greater than 30 percent. So can you talk
3 about -- because I know we're getting into the
4 insertion site, and that's an important conversation,
5 but I kind of want to look at a little bit higher
6 level, which is, if you tune that value differently, do
7 you see different correlations as you are trying to put
8 programmatically across the three products?

9 **DR. MELISSA BONNER:** Yeah, it's a great
10 question. I'm glad you asked this because I was hoping
11 to clarify some of our integration site analysis
12 algorithm details.

13 So, if I could have Slide 2 up, please. So it
14 gets a little complicated. So we have an integration
15 site analysis algorithm that the FDA had in their
16 presentation, and this algorithm, we are still using
17 per our clinical study protocol. However, we are also
18 in the process of currently aligning on a new algorithm
19 with the Agency because we have decided in agreement
20 with the Agency that we want to have a more
21 conservative threshold for triggering a notification to

1 the Agency and notification to treating physicians that
2 they can contextualization for any sort of potential
3 clinical abnormalities that may or may not exist.

4 So our current protocol that we are operating
5 on under our clinical study protocol is what was
6 detailed by the FDA which is to look at a 30 percent
7 relative frequency threshold. However, we have already
8 implemented the reporting on our ten percent relative
9 frequency threshold, and we have chosen to define
10 oligoclonality as this ten percent relative frequency
11 threshold. So we are --

12 **DR. TABASSUM AHSAN:** Sorry, not to interrupt
13 only because I know we're short on time, and I
14 appreciate what you're saying. I would love to see the
15 analysis though because those are really justifiable
16 values; they're just a little bit arbitrarily chosen.
17 So taking that ten, chipping it down to five -- 7.5,
18 10, 12.5 et cetera -- kind of creating a gradient and
19 seeing your results in terms of how they fall out with
20 MDS is I think very important.

21 You made that a central argument in your case

1 as to why this oligoclonality is not associated with
2 MDS across these programs. And so it would be really
3 important to set the criteria by which you selected the
4 data. And so I think that that's a really important
5 point, so if you have that as a metric, that would be
6 great.

7 And then my second question is in the eli-cel,
8 it's a broad spectrum of phenotypes that express,
9 right? Did I hear correctly that you made this one
10 comment that, in these patients with MDS, that the
11 silencing differentiation was not seen? Is that what
12 you said?

13 **DR. MELISSA BONNER:** No, sorry. I said that
14 the MNDU3 promoter was likely leading to either
15 overexpression as like a novel overexpression mechanism
16 or the fact that MECOM and EVI1 are active in very
17 early progenitor cells, so the promoter might just be
18 preventing the silencing of that gene as the stem cells
19 differentiate.

20 **DR. TABASSUM AHSAN:** Okay. Thank you for that
21 clarity.

1 **DR. MELISSA BONNER:** You're welcome. So to
2 address your question about the choice of the ten
3 percent, the integration site analysis assay that we
4 utilize at our third-party vendor has a dynamic range
5 of 5 to 70 percent. The lower limit of quantification
6 is 5 percent with a coefficient of variation of 20
7 percent, and, therefore, we thought 10 percent was
8 going to allow for a sensitive measure that was still
9 reliably quantifiable.

10 **DR. LISA BUTTERFIELD:** Thank you. And so a
11 single final clarifying question from Dr. DiPersio and,
12 then we'll move to the discussion.

13 **DR. JOHN DIPERSIO:** Thank you. So I want to
14 know is there any comparator group that has been
15 treated the same way without gene therapy, meaning
16 exposing either sickle cell patients or these kinds of
17 patients with high dose busulfan without gene therapy
18 and what the result of that would be as far as clonal
19 evolution and MDS and things like that?

20 **DR. MELISSA BONNER:** So you're referring to an
21 allogeneic comparator group?

1 **DR. JOHN DIPERSIO:** No, what I'm saying is
2 that we just don't have a good comparator group in
3 which patients are just treated with therapy without --
4 so one of the issues here is whether this MECOM is an
5 innocent bystander or driver of the disease? In other
6 words, it's occurring in a very small subclone that's
7 already developed a mutation.

8 We know that mutations are present in many
9 stem cells even in children. Even in cord blood,
10 there's a few. And so the question is whether this is
11 really driving the disease or whether this is just
12 landing in the correct soil at the right time and sort
13 of aiding things along. That's all I say.

14 So that's just a comment to suggest that we
15 don't know what the background rate of MDS and AML is
16 in people that get high-dose busulfan without gene
17 therapy because we don't even use busulfan outside of
18 an allo setting. And an allo setting's not appropriate
19 because all the donor cells are completely normal, and
20 all the host cells are eliminated, not so much from the
21 busulfan but from the T cells that you infuse. That's

1 all I'll say still.

2 **DR. MELISSA BONNER:** Yeah, so I do think there
3 is some data that could I think serve as a reasonable
4 comparator for some of these studies. I mean, I agree
5 with you; we do not condition people and then not
6 provide them a transplant or provide them an autologous
7 transplant without genetically modified cells as they
8 clearly would not have any benefit of therapy. So it'd
9 be -- there's a clear ethical line there. You know, we
10 -- allogeneic transplant is obviously --

11 **DR. JOHN DIPERSIO:** I just want to add one
12 more thing that the rates of MDS in Hodgkin's disease
13 or non-Hodgkin's lymphoma is, at 5 years, is 4 percent
14 and 10 percent, and, at 20 years, it's 10 percent and
15 20 percent. And we don't use busulfan, and we use sort
16 of drugs that aren't really strong (inaudible). It's
17 just an observation that it would be great to have a
18 control group that we could actually compare those to.

19 **DR. MELISSA BONNER:** If I could have Slide 1
20 up, please. So I think this study actually is a fairly
21 reasonable comparator specifically for sickle cell

1 disease. So this is looking across many different
2 clinical studies evaluating different donor sources for
3 allogeneic hemopoietic stem cell transplantation for
4 the treatment of sickle cell disease.

5 What you can see is that there are instances
6 of MDS on the third row, and you can see that the
7 proportion of MDS and AML that develop in these
8 situations are actually fairly comparable to what we
9 have seen in our trial evaluating lovo-cel. And they
10 are also typically associated with the decline of donor
11 cells essentially failure of the therapy, which I think
12 is very much akin to what we see in our sickle patients
13 who are treated with the early version of lovo-cel
14 where they had limited therapeutic benefit.

15 **DR. JOHN DIPERSIO:** Thank you.

16 **DR. LISA BUTTERFIELD:** Okay. Well, thank you
17 very much, everyone. I think we had a lot of important
18 questions to get to. So now we're going to move to the
19 discussion of the specific questions put to us from the
20 FDA.

21

1 **QUESTIONS TO THE COMMITTEE/COMMITTEE**2 **DISCUSSION/VOTING/MEMBER REMARKS**

3

4 **DR. LISA BUTTERFIELD:** Now we're going to move
5 to the discussion of the specific questions put to us
6 from the FDA. So, I'll read each question, and then
7 we'll have a first and second discussant who will weigh
8 in on these. And then we'll have opportunity for
9 discussion from the rest of the Committee members.
10 We'll go through those three questions and then move at
11 the end to the votes. We have about an hour and a half
12 left for this.

13 So, the eli-cel efficacy data are difficult to
14 interpret due to problems with the benchmark
15 calculation, issues of comparability between
16 populations, potential bias, concerns regarding
17 imputation methods, few events during a limited
18 duration of follow-up, and limited sample size for
19 treatment and control populations.

20 So, this is the rest of Question One. Please
21 discuss the limitations of the primary and secondary

1 efficacy endpoint data and whether the data support the
2 presence of the clinically meaningful benefit of eli-
3 cel. And discuss the populations, e.g., children
4 without a matched willing sibling donor, children
5 without a matched donor, in which the efficacy data are
6 or are not supportive of a clinically meaningful
7 benefit.

8 So, for Question One our first discussant is
9 Dr. Keller. So, we'll please have Dr. Keller and then
10 Dr. Dueck weigh in on Question One.

11 **MR. MICHAEL KAWCZYNSKI:** Sorry, can you go by
12 their first name, please, so they can raise their hand?
13 It'll make it much easier.

14 **DR. LISA BUTTERFIELD:** All right. I'll ask
15 everyone to raise their hand. Dr. Stephanie Keller.

16 **MR. MICHAEL KAWCZYNSKI:** There we go.

17 **DR. LISA BUTTERFIELD:** Dr. Amylou Dueck.

18 **MR. MICHAEL KAWCZYNSKI:** There we go. Thank
19 you.

20 **DR. STEPHANIE KELLER:** So, for this one I
21 think that it does -- that there are limitations,

1 obviously, with this, and there may be bias. But I
2 think a lot of it is just rarity of this (audio skip)
3 and the limitations and the ability to collect data and
4 have other control populations and things like that for
5 such a small group of people that you're testing.

6 But I think it does support the presence of a
7 clinically beneficial effect from the eli-cel,
8 especially for the mismatch unrelated donor group with
9 the eli-cel. Even based on the FDA's recalculation,
10 eli-cel had 91 percent, the major functional ability at
11 24 months, and it was similar for the matched LID
12 (phonetic) at 90 percent. And then the mismatch
13 unrelated was 42.9 percent. So that was certainly a
14 significant benefit there for those patients.

15 And then (audio skip) population in which the
16 efficacy data are not supported by clinically
17 meaningful benefit. I think in this population if you
18 were looking at a cancer risk in any other disease, it
19 would certainly be that -- not that it's not an issue,
20 but it would certainly be much more important in -- or
21 much more relevant in another population where there

1 were other potential treatments or that you could
2 actually live with the disease or be managed in some
3 other way.

4 But this is such a devastating disorder,
5 without good treatments or ways to even live with this
6 disease without a treatment, that I think the risk of
7 cancer and seizures and other things that were (audio
8 skip) I think are tolerable, in some ways, and
9 certainly hearing from the families I think these are
10 things that they're willing to risk in order to have a
11 potential benefit for their children to be able to live
12 and be functional.

13 I think this treatment is (audio skip) and
14 hopefully there is a cure one day. But it at least
15 gives these boys time that hopefully one day we can
16 come up with something better for them. But without a
17 treatment then they don't have the time. They don't
18 have the potential to wait for anything else. So, I
19 think, even with the problems that exist for this
20 treatment, I think it certainly shows a significant
21 benefit that boys right now can't wait on a better more

1 perfect treatment to (audio skip).

2 **DR. LISA BUTTERFIELD:** Okay. Anything to add
3 about specific populations, or were you conveying that
4 any of those three that are listed -- or the two listed
5 in the question you see that you answered the relative
6 benefit for both of those?

7 **DR. STEPHANIE KELLER:** I think there's
8 relative benefit for both of those because the one for
9 the matched unrelated patient is very similar to the
10 eli-cel at 90 percent. Again, I think that's up to the
11 families if they want to risk the graft versus host or
12 potential cancer with this treatment. And then, again,
13 for the patients that have mismatched unrelated, I
14 think that's such a significant benefit there,
15 definitely one that should be considered for this
16 treatment.

17 **DR. LISA BUTTERFIELD:** Thank you. Appreciate
18 that additional detail. Dr. Dueck, what are your
19 thoughts on Question One, please?

20 **DR. AMYLOU DUECK:** Hi. So, I'm going to sound
21 pretty similar to Stephanie. So, starting at the

1 primary analysis, we can agree that the lower limit of
2 the 95 percent confidence interval for eli-cel exceeded
3 the 50 percent benchmark. But based on the gross lack
4 of comparability in the disease characteristics between
5 the eli-cel cohort and the observation cohort, I
6 somewhat disregarded that particular comparison and
7 focused more on the comparisons with the transplant
8 group.

9 And then, specifically, in the primary
10 comparison between eli-cel and the no-matched sibling
11 donors' comparisons that showed benefit, I did share
12 the FDA reviewer concerns about inclusion of second
13 transplant as an event in the major functional
14 disabilities free survival endpoint. I felt that the
15 sensitivities analyses were conducted in which all
16 second transplants were excluded and then the MDS cases
17 were included as events may have been a slight
18 overcorrection, but nonetheless, these show that the
19 clinical event is less dramatic after you exclude this
20 subsequent transplant in the stem cell transplant
21 cohort.

1 But all-in-all, I do think the most compelling
2 of the exploratory analyses were the comparisons when
3 you look at the subsets of HLA matched and the HLA
4 unmatched cohorts, which suggests there were
5 predominantly the most clinical benefit of eli-cel
6 within HLA unmatched donors' group. And really that
7 showed that both were major functional disability-free
8 and overall survival there was consistent benefit for
9 eli-cel primarily driven by the early test (phonetic)
10 line related toxicity in the HLA unmatched donor group.

11 So, in terms of issues of comparability and
12 bias, I did think the propensity score adjustment
13 methods that were used I thought adequately controlled
14 for the included co-variants. And I wasn't surprised
15 that the results were actually fairly consistent
16 between the propensity score message and the unadjusted
17 message, mostly because the cohorts actually were only
18 mildly imbalanced, in my opinion.

19 Another issue was raised in term of limited
20 follow-up. I thought that this was actually kind of
21 lesser concern, particularly in the HLA unmatched

1 comparison, but then the vast majority of those events
2 were in the first six months and represented toxicity
3 of the stem cell transplant population. Of course, I'm
4 not taking into consideration the MDS risk which would
5 be kind of a different calculation in terms of risks
6 and benefits, and I'm just strictly considering kind of
7 clinical benefit here.

8 I also had lesser concerns as raised by the
9 FDA reviewers in terms of evaluating major functional
10 disabilities, again, because the primary comparison
11 that I felt was the most compelling in terms of the HLA
12 unmatched group because, again, it was -- the primary,
13 I think, benefit was more based on transplant toxicity
14 which I think is less biased in terms of blinded
15 comparisons.

16 So finally, in summary, again I think the most
17 compelling clinical benefit was supported in the
18 unmatched donor group. Okay. I'll stop there.

19 **DR. LISA BUTTERFIELD:** Terrific. Thank you
20 very much. All right. So to continue the discussion
21 of Question One, I'll watch for hands. So, we're still

1 on Question One, and so, Dr. Ahsan, what would you like
2 to add to our discussion for Question One, please?

3 **DR. TABASSUM AHSAN:** Yeah, I think that in
4 considering the sponsor's data which has stratified in
5 a more favorable way, but even if you look at the FDA
6 presentation of the data, I think if we think about the
7 different populations, they would be unmatched. I
8 think it's very clear that there's some benefit. With
9 the matched, right, there looks like in terms of
10 survival there wasn't a marked difference, but if we
11 think about the graft versus host disease versus the
12 risk of insertional mutagenesis, that seems to be
13 unbalanced.

14 I do want to point out that there was that
15 public comment -- it was a very small point, but I
16 think an important point -- where one of the parents
17 said something about, they -- someone had said if their
18 child had had cancer instead, that would've been at
19 least something that they could treat, that the graft
20 versus host disease is really a very nefarious side
21 effect.

1 And so, I think -- thinking about that, I
2 think the paradigm of allowing the clinician to select
3 what is best for the matched non-relation -- the non-
4 sibling -- is, I think, a nice paradigm that allows us
5 to have the flexibility of doing what's in the best
6 interest of the patient. It also allows us to -- the
7 other benefit is -- to treat early is such a huge
8 benefit in this case that it allows you to not have to
9 wait for the matching process which can be extensive.

10 **DR. LISA BUTTERFIELD:** Great. Thank you for
11 raising those points. Next, I see a hand from Dr.
12 Roberts.

13 **DR. DONNA ROBERTS:** Yes. Yes, I just wanted
14 to mention one minor concern. I agree with everything
15 the other speakers said, but just one minor concern is
16 that one of the promises that this is for unmatched
17 donors and a large percent of unmatched donors are
18 going to -- are -- patients that have unmatched donors
19 are going to be minority populations. And if you look
20 at the race breakdown in 102 and 104, there were, for
21 example, three African Americans, one Asian, and 36

1 white.

2 So, I think there's not a lot of data on those
3 minority populations for which this would have a
4 benefit. But again, that's a minor comment, and I
5 completely agree with what the other speakers said.

6 **DR. LISA BUTTERFIELD:** Thank you. I
7 appreciate that. Okay. So we have a little time for
8 more discussion of Question One. Are there other
9 viewpoints to add or echo to what's been presented so
10 far? Dr. Lee?

11 **DR. JEANNETTE LEE:** Yeah, so I think one
12 question I have -- and this is maybe a question for the
13 FDA -- if, in fact, an approval for a BLA is issued for
14 eli-cel for this group, what is the process of
15 monitoring, for example, for MDS and some of the other
16 issues and also the concern, I think, that some have
17 raised regarding the follow-up and effect that the
18 primary endpoint was based on 24 months?

19 Can somebody FDA maybe describe a little bit
20 about, briefly, what that process would be, because
21 there are -- obviously, this is a rare disease so your

1 ability to really start is not there, but there are
2 obviously significant concerns. And I don't know if
3 somebody in FDA could respond to that.

4 **DR. LISA BUTTERFIELD:** Dr. Bryan, is there
5 someone on your team that you would like to call on?

6 **DR. WILSON BRYAN:** Yes. Well, so, we're
7 particularly interested in this Committee's
8 recommendations with regards to monitoring,
9 particularly along the lines of monitoring for the
10 possibly of related, which is a foremost concern. We
11 have a variety of mechanisms for monitoring and trying
12 to ensure the safety post-marketing, and we'll consider
13 those. But the question of what we should do, at the
14 moment, is one we really want this Committee's input on
15 in the subsequent questions.

16 **DR. JEANNETTE LEE:** Okay. Thank you.

17 **DR. LISA BUTTERFIELD:** Thank you. So, I see
18 two more hands up for discussion of question one. Dr.
19 Crombez, please. And then -- can't hear you yet, Dr.
20 Crombez.

21 **MR. MICHAEL KAWCZYNSKI:** You must have --

1 **DR. ERIC CROMBEZ:** Sorry about that.

2 **MR. MICHAEL KAWCZYNSKI:** Yep, check your
3 phone. There you go.

4 **DR. ERIC CROMBEZ:** Yep, thank you. Just
5 wanted to agree with the overall positive benefit risk
6 profile here. I do think this was a very well-thought-
7 out clinical development plan, and just wanted to
8 comment and remind everyone of the challenges in
9 conducting these types of trials for these very rare
10 diseases.

11 Yeah, so same regulations apply, but it can be
12 very difficult when you're dealing with these small
13 patient populations needing to design and enroll in the
14 global trials trying to identify as many patients as
15 possible. Challenges on endpoint development,
16 obviously, there's not a lot of clinical regulatory
17 precedent to follow here, so I think it's a very good
18 job in this endpoint development.

19 And we talk a lot about the use of non-
20 concurrent control groups and the challenges they have,
21 and obviously there is some precedent in rare disease.

1 But I think here it is appropriate. I think it was
2 well done, and the fact that the company conducted
3 their own trials as opposed to use something done in an
4 academic environment or just published is great. And
5 then again, with the duration of follow-up with these
6 types of diseases that can be slowly evolving can be a
7 challenge, and I think the 24 month time point is
8 appropriate with obviously the very good results.

9 **DR. LISA BUTTERFIELD:** Thank you. So, we'll
10 go to Ms. Anspach and then finish with Dr. M. for
11 Question One.

12 **MS. SYLVIA ANSPACH:** Hi, so I'm Sylvia, and
13 I'm one of the patient representatives. So, I'm coming
14 from the standpoint of a mother of a son who is now 24
15 years old and was diagnosed in 2005, so way before a
16 lot of this was available. He is alive and doing well
17 post allogeneic transplant but has multiple
18 disabilities, and as I listen to this it's very
19 academic and very predictive in nature, like we're
20 looking at what is the future.

21 But when you look at the endpoints that they

1 gave you at two years, that seems very appropriate to
2 me because what we know is once they have gadolinium
3 enhancement, that's a predictor to more rapid
4 progression and death. And so, my experience in taking
5 with other parents and watching children as they go
6 through transplant is once that enhancement hit, your -
7 - time is brain, and you're immediately starting to
8 lose function. So, life expectancy is short, and if
9 they live longer, there's disabilities. So that seemed
10 very appropriate to me.

11 Early transplant is definitely a benefit, so
12 when they were talking about there may be bias
13 associated with lower Loes scores in the kids that were
14 on the bluebird trial, I feel like that was not so much
15 a bias but a benefit because we know that when kids are
16 transplanted with a lower Loes score they'll come out
17 with less dysfunction.

18 Again, I echo that donors are hard to come by,
19 not just because that it's difficult to find donors in
20 a diverse population, but we're talking about people
21 with genetic disorders. So our other children are

1 impacted. There's a 50 percent chance that any other
2 child will be impacted, so that even decreases the
3 donor pool more. Yes, there is a risk of MDS and
4 malignancy. And my background, I'm nurse practitioner
5 who's spent my life in hematology oncology, so I
6 understand the risks of those. However, the kids that
7 are being identified have already outlived their life
8 expectancy.

9 And so, as a parent, I understand that, and I
10 think that they made the comment that time -- Dr.
11 Keller made the comment that time gives you the
12 potential to look at other options. And as somebody
13 who's lived their life in the unknown world of ALD for
14 the last 20 years, that's where we live. We don't know
15 what the future is going to hold, and so the
16 opportunity to have time is essential. Thank you.

17 **DR. LISA BUTTERFIELD:** Thank you. All right.
18 I no longer see Dr. M.'s hand up so --

19 **DR. JAROSLAW MACIEJEWSKI:** No, no, I'm here.
20 I am here.

21 **DR. LISA BUTTERFIELD:** Sorry.

1 **DR. JAROSLAW MACIEJEWSKI:** So, totally agree
2 with the families who would with this treatment have an
3 option, particularly if they have to weigh this against
4 mismatched or unrelated transplant that is of higher
5 risk. I think what should be, however, happening --
6 that having the choice is always good, but I think that
7 in addition to post-market surveillance, what has to be
8 done on the other side, the company is obliged to for
9 those who selected the other option and not the product
10 here on commercial grounds -- that the results of bone
11 marrow transplantation in these settings are just
12 relevant is being updated too because there is a lot of
13 progress in this.

14 The mortality decreases. There are other ways
15 of conditions. There is a lot of progress going on,
16 and it's important that's presented as a choice. Look
17 we have this product versus this, and this has so many
18 disadvantages that current data and not historical data
19 presented. In other words, the update has to have not
20 only on what happens to the people who receive this
21 product but also what happens in terms of the

1 improvement of a standard bone marrow transplantation.

2 **DR. LISA BUTTERFIELD:** Thank you. Dr. Ott,
3 did you want to make a final comment on Question One
4 before I summarize and we move to Question Two? I see
5 Dr. Melanie Ott's hand up.

6 **DR. MELANIE OTT:** Yes, good. I actually have
7 more question for the clinical colleagues at the FDA or
8 the sponsor. What is the prognosis of the kids with
9 MSD (sic) currently in terms of after their
10 allotransplant? What is -- I know it's early and we
11 don't really know. But what is expected in terms of
12 the transgene expression in the brain, the continuation
13 of this, and also the curing of the disease -- the
14 syndrome?

15 **DR. LISA BUTTERFIELD:** So, I know we're not
16 usually including the sponsors any longer in this part
17 of the meeting.

18 **DR. MELANIE OTT:** Okay. Maybe one of the
19 clinical colleagues could comment on this what they
20 expect. Are they expecting a full recovery? Is this
21 going to be a 50/50 chance? What is the survival

1 expectations of these kids with MSD (sic) after their
2 allotransplant now?

3 **DR. LISA BUTTERFIELD:** Dr. Bryan, do you want
4 to make a comment about this, please?

5 **DR. WILSON BRYAN:** Let me call upon Dr.
6 Elenburg from our group to comment, if we could.

7 **DR. LISA BUTTERFIELD:** Terrific. Thank you.

8 **MR. MICHAEL KAWCZYNSKI:** I'm sorry, who did
9 you want to call on?

10 **DR. WILSON BRYAN:** Shelby Elenburg.

11 **DR. SHELBY ELENBURG:** Hello. So, I actually
12 was primarily involved in the efficacy review, so I'm
13 not sure how much I can answer about this. But I know
14 Dr. Crisafi was the primary safety reviewer. I don't
15 know that we have that information either. We are
16 getting frequent clinical updates about the subjects
17 who have MDS, but I'm not sure that we have that
18 specific update on their prognosis or -- especially
19 because it happened recently, we don't necessarily have
20 the efficacy data after their transplant either.

21 **DR. WILSON BRYAN:** Dr. Butterfield, maybe we

1 could check with one of the clinicians from the
2 sponsor's team.

3 **DR. LISA BUTTERFIELD:** Okay. Thank you.

4 bluebird bio, would you like to have one of your
5 clinical representatives address this? I have bluebird
6 bio's hand up. Thank you.

7 **DR. JAKOB SEIKER:** Yes. Can you hear us?

8 **DR. LISA BUTTERFIELD:** Yes.

9 **DR. JAKOB SEIKER:** I'm going to ask Dr.
10 Lindsley, who's an expert in MDS, to discuss the
11 outcome of MDS in these patient population.

12 **DR. COLEMAN LINDSLEY:** Good day. I'm Dr.
13 Coleman Lindsley, and I'm the director of clinical
14 genomics and hematologic malignancies at Dana-Farber
15 Cancer Institute and co-director of the Edward P. Evans
16 Center for MDS at Dana-Farber. In pediatric patients
17 with MDS, the long-term overall survival is quite good,
18 and it is, to provide context here, older adults with
19 MDS the five-year survival is less than 50 percent.
20 And the ten-year survival is more like 10 to 15
21 percent. However, in children and young adults that

1 survival is much better. And we can pull up slide one.
2 In a large registry-level study, we can see
3 that the survival in MDS patients after transplantation
4 is much better in children and young adults at the top
5 ranging from about 70 percent. And then if we pull up
6 slide two, particularly those patients with primary MDS
7 and, again, lacking adverse mutations like P53, their
8 survival in the long term is approaching 80 percent
9 overall.

10 **DR. LISA BUTTERFIELD:** Terrific. Thank you
11 very much for sharing those data.

12 **DR. MELANIE OTT:** Thank you.

13 **DR. LISA BUTTERFIELD:** Okay. So what I've
14 heard in the discussion for Question One is that the
15 Committee members certainly agree with the number of
16 the issues raised by FDA and the concerns in the
17 different ways of calculating some of these outcomes.
18 But despite that, given the preponderance of the data,
19 the way the numbers come out from either bluebird or
20 FDA analyses, that the members of the committee have
21 spoken up so far find that there is still evidence for

1 efficacy for eli-cel in the proposed patient
2 populations without a matched willing sibling donor,
3 without an unmatched unrelated donor.

4 There are other comments about that the two-
5 year end point for now is deemed reasonable, that
6 continued comparisons with transplants that exist now
7 are compelling and that going forward, given that there
8 is progress in the transplant field, that there should
9 be ongoing analysis of current transplant data in a
10 post-market analysis. And then a note that the race
11 breakdown for patients who are unlikely to have matched
12 donors will be more diverse in the population treated
13 so far and that will be something important to look at.

14 So, that's what I heard. I'll look for a
15 quick hand. If not, otherwise, we'll go on and discuss
16 Question Two and then Question Three and our vote. All
17 right --

18 **MR. MICHAEL KAWCZYNSKI:** So, Dr. Butterfield,
19 as a reminder just to those who are answering
20 questions, if at any time you are there for support,
21 raise your hand. It'll help us identify you faster so

1 we can answer those support questions. So, there you
2 go. Take it away.

3 **DR. LISA BUTTERFIELD:** Thank you. So,
4 Question Two, "Three eli-cel treated subjects have
5 developed myelodysplastic syndrome, MDS. Subjects with
6 sickle cell disease treated with a related product,
7 lovo-cel, have been diagnosed as myeloid malignancies.
8 Please discuss the extent to which the myeloid
9 malignancies associated with lovo-cel raise concerns
10 regarding risk for hematologic malignancy with eli-
11 cel."

12 So, we have two discussants. First, Dr. M.
13 and then Dr. DiPersio to get us started for Question
14 Two.

15 **DR. JAROSLAW MACIEJEWSKI:** Yes, thank you.
16 Can you guys hear me?

17 **DR. LISA BUTTERFIELD:** Yes. Thank you.

18 **DR. JAROSLAW MACIEJEWSKI:** Hello, again. As
19 mentioned before, there's three patients who developed
20 this unusual form of myelodysplastic syndrome, which
21 the co-currents with EV1 and the other cases of clonal

1 hematopoiesis are indeed concerning that it has
2 something to do with this particular gene. It's not an
3 MDS. Typical MDS, it's very unusual for MDS to get
4 this particular variant. Usually it's typical to find
5 it in very advanced MDS ones and in leukemia because
6 these particular genetic hits are very sweeping in
7 terms of the clonal architecture of the leukemia.

8 So, the question is how are the other cases
9 using the other product affect our worrying? And I
10 think that they are different because they don't have
11 these typical L7, and they are not typical
12 myelodysplastic syndrome or treatment-related neoplasm
13 that are seen in relatively high frequency in auto
14 transplant for malignant conditions first mentioned by
15 John. The conditioning there is different, and it may
16 be that patients are treated or heavily treated for the
17 original malignancy.

18 But autologous transplant has increased rate
19 of treatment related secondary malignant, and these
20 seem to me in the other cases -- seem more like typical
21 treatment related neoplasm. The previous studies have

1 shown that in people that the usage of hydroxyurea is
2 not associated as high risk, but one cannot help
3 believing that if I go through cases that I know use of
4 hydroxyurea is sort of concerning, particularly in
5 younger patients who receive it for years.

6 So, this might be contributing factor that the
7 conditioning itself, and I don't know that we don't
8 need to invoke the concerns with this other product,
9 with lovo-cel, in order to be concerned about the EV1-
10 related clonal evolution. I would separate them from
11 each other.

12 So, this has to be weighted, of course,
13 against the overall risk of the disease which is such
14 overwhelming that it occurs that the benefit ratio has
15 to be the right of the patient. Then, of course, if
16 this treatment does not get approved, they will have
17 not this benefit. So, the imperative would be to give
18 more understanding to the mechanism of this EV1 that
19 it's hard to oversee given the fact that it's genes so
20 intricately involved in the particular prognosis subset
21 of AML.

1 And so, as explained by somebody here as
2 because it frequently integrates, it would frequently
3 occur in the clonal context, but then one would have
4 involved there is other superseded ancestral event.
5 And this would be only a passenger event and sort of
6 given the nature of the gene hard to believe.

7 **DR. LISA BUTTERFIELD:** Thank you. Thank you
8 for those perspectives. So, Dr. DiPresio, do you want
9 to address question two, please?

10 **DR. JOHN DIPRESIO:** Yeah. So, I agree with
11 Jarek (phonetic). Really, he answered both Questions
12 Two and Three, I think, and I think I agree with him
13 regarding both responses. Number one, as I think the
14 malignancies that have occurred in the lovo-cel setting
15 are more consistent with a treatment-related MDS or AML
16 -- secondary AML with sort of classic kinetics of
17 presentation and classic cytogenetic abnormalities and
18 mutations.

19 But yes, one of these cases was associated
20 with integration of the lentiviral genome. I think it
21 may be true, true unrelated, as Jarek said, that you

1 integrate that lentivirus and lots of stem cells and
2 there is one stem cell in millions that has two other
3 mutations and they are at very low vats and persist but
4 then expand over time, they may be driving the disease
5 in the context of this MDS or AML. And it's not the
6 lentivirus per se. It may do something, but -- and
7 also in the sickle cell patients, they have a very
8 stressed hematopoiesis. It's an inflammatory disease.

9 The patients are constantly in the hospital
10 with fever, vaso-occlusive crises. This is the kind of
11 setting that induces ROS inflammation, and everyone
12 knows that this probably puts patients at risk for
13 generating these malignancies. Their marrows are under
14 great stress, and so I think -- and also, we know that
15 the incidents of heme malignancies in these patients is
16 ten times the normal population. And the incidence of
17 heme malignancies in this trial was about 20 to 30
18 times higher than the baseline sickle cell population.

19 So, I just want to say one other thing, and
20 that is clonal hematopoiesis is never seen in a mouse.
21 But -- because the mice don't live long enough, but if

1 you stress a mouse by doing a transplant, you can get
2 clonal hematopoiesis to occur in mice. And if you do
3 sequential transplants in mice, there are clones that
4 expand over time. So, it's stress -- hematopoietic
5 stress may be one of the contributing factors for the
6 lovo-cel.

7 For the other product, there is really kind of
8 a smoking gun here, it seems. I still am not convinced
9 that this is true, true unrelated. That is that this
10 may not be the driver, and there may be just other
11 incidental drivers. I'd like to know more about some
12 of the other mutations that occur. And again, the
13 conditioning regimen for these patients is a
14 conditioning regimen for which we have no control
15 group, and all we have is patients that have gotten
16 autologous transplant with less toxic drug than
17 Busulfan. Less. And those patients have MDS rates of
18 four to ten percent at five years, so not out of
19 control compared to what we're seeing here.

20 The final issue is that Jarek raised the issue
21 of toxicity of transplant and how we're getting better

1 at that. And that's true. We are able to do
2 mismatched transplants and haploidentical transplants
3 and mismatched unrelated donor transplants, and I've
4 been transplanting patients for 40 years. And one of
5 the things that even with haplos and even with modern
6 therapy, that the rates of acute and chronic graft -
7 chronic is a little bit lower, but the rate of acute
8 GvHD is as high as a matched unrelated donor
9 transplant.

10 And so, these patients -- and even though kids
11 have it a little easier time than adults, these
12 patients do have really persistent overwhelming
13 problems, and that's what I was mentioning earlier.
14 When you're really looking at outcomes and you look at
15 the outcome of an autologous transplant recipient who
16 gets one treatment and then is gone forever and feels
17 well forever versus an allograft patient which is
18 in your office every week getting adjustments so their
19 immunosuppression, multiple infections, steroid related
20 complications -- everything you can imagine.
21 But the endpoint should be not whether their

1 disease is worse or whether their GvHD is worse but a
2 composite endpoint. What's their quality of life
3 related to GvHD and to their underlying disease? And I
4 think that that was not really brought forward by the
5 FDA, and my guess is that there's nothing better than
6 the quality of life of an autologous transplant patient.
7 And there often is nothing worse than the quality of
8 life for an allotransplant recipient who's successfully
9 transplanted.

10 So, all of those things suggest to me that
11 even though there are substantial risk for this
12 population, I'm convinced by what I saw and by what
13 everybody said that this is probably a worthwhile
14 endeavor for these high-risk patients.

15 **DR. LISA BUTTERFIELD:** Thank you very much for
16 those perspectives. I see three hands to further
17 discuss Question Two. Dr. Ott, Dr. Hawkins, and Dr.
18 Ashan. So, please, Dr. Ott.

19 **DR. MELANIE OTT:** Yes, thank you. I wanted to
20 just report both speakers' opinions. Also, from the
21 virology side, I would say that these two treatments

1 are quite different from the vector perspective. One
2 is really a very strong ubiquitous promotor that is
3 likely causing larger problems. The other one is a
4 cell type specific promotor that is more physiological,
5 and I think for that reason I would also separate these
6 two and not consider them the same entity here.

7 I think the key is really to find out what is
8 happening in the eli-cel vector and whether it is a
9 strong connection with the vector. And I just
10 encourage the company to further investigate and
11 develop that vector.

12 **DR. LISA BUTTERFIELD:** Thank you. Dr.
13 Hawkins.

14 **DR. RANDY HAWKINS:** Thank you. So, the FDA is
15 asking (audio skip) suggestions about monitoring for
16 these patients. I'm an adult physician. I'm an
17 internist and pulmonary physician (audio skip) for
18 adults. My approach is somewhat simplistic because I
19 don't understand as much of the science as I would like
20 to, although, I've certainly learned a lot. Despite
21 what we know about the situation moving forward,

1 there's a lot that we don't know, and we've spoken
2 about the small numbers.

3 I think it would be a mistake to miss the
4 opportunity to continue to provide this tool to these
5 patients and people because they have the opportunity
6 to learn potentially more about what's going on. And
7 by that I mean that where there's a risk we're all
8 aware of shared decision making, we can actually learn
9 more about these entities we don't know quite enough.

10 And with the oversight FDA provides, (audio
11 skip) and generally we want for patient care and
12 improve quality of life, we need to continue to study
13 to understand information. And if we determine that
14 this risk is too great five years from now, then we say
15 this is not something we can do if the risk-benefit
16 ratio moves us towards doing this rather than assume
17 based on the data we have now that it's too dangerous.
18 And I agree with Dr. Butterfield's summary of Question
19 One.

20 **DR. LISA BUTTERFIELD:** Thank you. All right,
21 Dr. Ahsan, and then we'll finish Question Two with Dr.

1 Coffin, afterwards.

2 **DR. TABASSUM AHSAN:** Thanks. I just want to
3 reiterate a couple points. Right, so for Question Two
4 it's really looking at whether lovo-cel and the
5 observations related to lovo-cel have any implications
6 as related to eli-cel. That's the question that we're
7 focused on at the moment, and I agree with -- I leave
8 it to the clinicians -- and I'm not one of them -- to
9 discuss the differences in the treatment paradigms and
10 how that may affect the observation. And so I think
11 they articulated that nicely that there's a difference.

12 And I want to echo what Dr. Ott said, which is
13 that the product definition of what is going into these
14 patients is actually quite different, and so I don't
15 think that there is necessarily a correlation between
16 one and the other. I will raise the issue that I don't
17 think that they did a very good job in terms of
18 tracking how oligoclonality can be related to MDS. I
19 think that they can dig deeper into that, and they can
20 actually present the data in a more clean fashion that
21 makes it easier to actually look at the relationship

1 between those activities.

2 But I don't think, that based on the product
3 definition in a way the vectors are defined, that there
4 is necessarily an increased concern about eli-cel based
5 on the observations of lovo-cel. The other thing that
6 I did not really -- I wish that the sponsor would
7 expand on a little bit more is that relationship
8 between VCN and the percent (audio skip) and
9 efficiency. They presented them independently. They
10 didn't actually make a bivariate plot of those which
11 really gives you some good information.

12 But again, I think, regardless of some flaws
13 and how they could've presented the data more cleanly,
14 I don't think that there is necessarily an increased
15 risk to eli-cel based on the observations of lovo-cel
16 based on the product definition.

17 **DR. LISA BUTTERFIELD:** Thank you. And
18 finally, on Question Two, Dr. Coffin.

19 **MR. MICHAEL KAWCZYNSKI:** Dr. Coffin?

20 **DR. LISA BUTTERFIELD:** We can't hear you yet,
21 Dr. Coffin.

1 **MR. MICHAEL KAWCZYNSKI:** There we go. There
2 we go. Let's see if we got you now. Let's see.
3 There, you back now? Go ahead, sir.

4 **DR. JOHN COFFIN:** Can you hear me now?

5 **MR. MICHAEL KAWCZYNSKI:** Yup, go ahead.

6 **DR. JOHN COFFIN:** Now you can hear me. Okay.
7 Yeah, I was really just going to weigh in pretty much
8 agreement with everything that was said so far in
9 regards to this question just to put a second
10 virological vote into it -- not really a vote, but a
11 second virological point of discussion. I think there
12 is not much of a smoking gun in the lovo-cel as far as
13 there being a virological emerging of the diseases
14 which has come up. And I'm glad to hear from the other
15 -- from the people who know better than I that this is
16 probably not unexpected in the case of sickle cell
17 patients who've been transplanted, although the numbers
18 may be different and so on and so forth.

19 And also, I would point out that I think the
20 comparison with lovo-cel and beti-cel with eli-cel
21 actually gives some optimism that a much better vector

1 could be found for this, one that focuses the promotor
2 to the cell site that's really important for the
3 disease. And I think there's a lesson there that for
4 bluebird and for others interested in developing these
5 kinds of things that ten specific promotors are not a
6 good thing to use in this context.

7 And although we don't know for sure, the
8 others -- what the outcome will be ten years from now,
9 it certainly looks promising that in the other cases
10 we're not getting at least anywhere near the level of
11 these kinds of problems that we're concerned with in
12 this particular context. But that's all I've got to
13 say for this.

14 **DR. LISA BUTTERFIELD:** Great. Thank you very
15 much. So, if I can summarize the discussion of
16 question two, what I heard is that regarding the extent
17 to which the lovo-cel observations impinge on the eli-
18 cel concerns, the panelists who spoke said that these
19 are really different settings. They are different
20 viral vectors, different promotors, different treatment
21 settings and that they don't -- that any lovo-cel

1 observations are not directly concerning. For eli-cel,
2 that there's certainly need to continue to examine the
3 mechanisms of viral integration to understand all these
4 sites of integration. The lovo-cel malignancies that
5 have been seen are more of a classic form; the eli-cel
6 are more of a not typical setting -- again, pointing to
7 key differences -- and that there might be opportunity
8 to think about improve next generation vectors and
9 other learnings by examining these mechanisms and
10 differences between the two vectors and the two
11 diseases and the two treatment settings, where sickle
12 cell has a very stressed hematopoietic setting.

13 So that's what I heard. Not seeing any hands
14 shoot up, so let's move to discuss our final -- our
15 third question, and that is that "Eli-cel has a risk of
16 heme malignancy, which is a potentially fatal adverse
17 event. The number of cases of malignancies, currently
18 3 out of 67, or about 4 percent, which seems likely to
19 increase over time. In addition to the three
20 recognized cases of MDS, there are least four other
21 subjects with concern from pending MDS. Although the

1 clinical significance is unclear, 98 percent of
2 subjects in the eli-cel study population have vector
3 integration sites that include MECOM, a proto-oncogene.

4 "Please discuss the risk of insertional
5 oncogenesis in patients with early active childhood ALD
6 treated with eli-cel." So, we'll start with Dr. Shah.
7 Thank you.

8 **DR. NIRALI SHAH:** Thank you. Can you hear me
9 okay?

10 **DR. LISA BUTTERFIELD:** Yes.

11 **DR. NIRALI SHAH:** Perfect. So, I feel
12 confident about the potential benefit of this therapy
13 for children with ALD given the natural course of the
14 disease without transplant and eli-cel and agree with
15 the points that have been raised in the first two
16 discussion questions. I think that one of the primary
17 indications for eli-cel specifically to avoid
18 transplant toxicity, particularly GvHD in the
19 mismatched unrelated donor setting and to avoid
20 transplant.

21 So, we're given that transplant is the only

1 curative therapy for MDS. The question that I end up
2 being left with is what is the threshold at which the
3 MDS incident is what can be considered non-acceptable
4 and if whether the data we have on file is sufficient
5 to feel confident that the benefit continues to
6 outweigh the risk, particularly, as we know that
7 transplant supportive care is improving.

8 I think the other point that I wanted to make
9 is that, while there is historical data on pediatric
10 MDS and what the outcomes may be, I don't know how much
11 we can rely on that data to determine the outcomes of
12 gene therapy-induced MDS due to insertional
13 oncogenesis.

14 Although, it's promising that two of the three
15 patients who are accessible for remission status are
16 currently doing well and in remission. While the
17 median follow up time on all patients is longer, but
18 for the ALD-104 the median follow up is only six months
19 and the concerns that have been raised have only
20 relatively recently arisen.

21 On the other hand, I think that we all

1 appreciate that if the children are able to live long
2 enough to develop this toxicity which seems to be
3 occurring at about as early -- the earliest time I
4 think to be about two years -- that we're halting the
5 progression of their disease, and that this is leading
6 to both improved event free survival without
7 progression of CALD is clinically meaningful.

8 So, I think that the concern from MDS is of
9 concern, but I think that the question I'm really left
10 with is what will we do to implement, if this is
11 approved, the safety to monitor both the ongoing
12 incidence of MDS as well as the outcomes for this
13 treatment of MDS should the children develop it.

14 The other thing that I want to also be mindful
15 of is that assuming that the indication is for those
16 who do not have a matched related donor -- it's really
17 for the mismatched unrelated donor -- that that same
18 population is also going to have the same donor
19 selection availability for even their MDS, and so we'll
20 have to be mindful of monitoring what those outcomes
21 are. But I think given where we're at right now, it

1 remains a concern, but I still think the benefit to
2 eli-cel is important and outweighs the risk at present
3 moment.

4 **DR. LISA BUTTERFIELD:** Thank you very much,
5 Dr. Shah. Dr. DiPersio.

6 **DR. JOHN DIPERSIO:** Thank you. So, I agree
7 completely with Nirali. I think that the benefit
8 outweighs the risk in this particular situation, and I
9 understand what the risk could be in the future. The
10 risk could be based on the appearance of a smoking gun
11 here is that over the next four or five years we'll
12 find that the frequency of evolution to MDS is much
13 higher than we expected. I can just tell you that this
14 is not the usual MECOM kind of mutation or
15 rearrangement or clinical scenario.

16 There are really two kinds of MECOM related
17 defects we see in adults with acute leukemia, and
18 that's one with a classic EVI1 rearrangement. And
19 those patients have overexpression of EVI1, but they
20 almost always have a very fulminate acute leukemia
21 which is really unable to be treated with anything.

1 And then there are a very small population of
2 patients with MDS associated MECOM rearrangements or
3 EVI1 rearrangements, and they actually have a different
4 clinical course. And their course is tremendously
5 impacted by other mutations, though, in particular
6 RUNX1, which was present in one of these patients, and
7 TP53 mutations. And so we know a lot about some of the
8 things that modify the progression of the disease.

9 The most important observation in this group
10 of patients that might develop MDS associated with
11 MECOM-1 is that early identification of disease, which
12 is at a lower stage IPI, a low risk, results in a much
13 better outcome with transplant, and that's in the adult
14 setting. But when it's actually developed into acute
15 leukemia, then the chance of cure is very low.

16 So the issue of monitoring and surveillance
17 becomes incredibly paramount, and so I think that our
18 suggestions or the FDA's suggestions would be to
19 develop a very rigorous approach in these patients to
20 really follow all of the things, including variant
21 allele frequencies using error corrective sequencing,

1 maybe even RTPCR -- whatever it takes to really --
2 frequent bone marrow biopsies -- whatever it takes to
3 intervene early in the potential allogeneic transplant
4 of patients that look like they're progressing because
5 they're the only ones that seem to be cured with the
6 MECOM-1 rearrangement. That's all I have to say.

7 **DR. LISA BUTTERFIELD:** Thank you very much.
8 All right. I see three additional comments from the
9 Committee for Question Three. Dr. Ott, Dr. Coffin, and
10 then Dr. Shah. Dr. Ott, please.

11 **DR. MELANIE OTT:** Yes. Yeah, I think they're
12 all very valuable insights into our perspective. I
13 want to come back to the question that the FDA asked us
14 before about what would be valuable in terms of
15 monitoring and what could be done. I really want to
16 also come back to what John said before that this is
17 maybe a lesson to be learned in terms of the promotor
18 change in that vector that might make a difference if
19 there's effort to find out what cell type is actually
20 relevant in the brain implant of these HFCs as I think
21 that would be enormously reducing the risk if we could

1 tailor the promotor to that cell type.

2 I also think that the gene expression of
3 MECOM, and potentially PRDM16 and others that have been
4 identified, could really be used more effectively in
5 predicting whether clonal expansion is going to occur
6 to see whether there's really a gene expression
7 dysfunction in use by the integration of the vector
8 close to it.

9 And I also think that the perspective that
10 this is not really a typical MECOM malignancy
11 clinically I think is also very valid because I think
12 it comes back to the point that it's going to be a
13 multi-hit pathogenesis here, and I would say that these
14 multiple integration sites that we see and these
15 expanded clones should be better used to predict and
16 potentially correlate with MDS development. Thank you.

17 **DR. LISA BUTTERFIELD:** Thank you. Dr. Coffin.

18 **DR. JOHN COFFIN:** To the extent to which all
19 of the additional MECOM mutations or insertions that
20 are seen is a smoking gun for future problems, I
21 couldn't get a handle on, and I didn't get very good

1 answers, I'm afraid, from the sponsor. It really comes
2 down to what quantitative issues, what are the
3 frequency of these insertions relative to what you
4 started with when you put the cells into the patient.
5 And they could have and should have, in my opinion,
6 taken a small sample of some of the patients before
7 they started and done the integration site analysis on
8 them. If they did that, they certainly didn't share it
9 with us.

10 But that would have been -- then the frequency
11 of the integrations that you saw in the patients
12 would've been much more meaningful, the frequency of
13 which you saw integrations in MECOM, particularly if
14 they were focused in the same intron which is
15 unfortunately rather large and about half the genes as
16 far as I can tell from the map she showed. But if
17 their frequency and the same intron and the same
18 orientation were coming up with a lot of frequency,
19 then you'd feel quite differently about it then if
20 things were just scattered mutations all over the gene
21 at those orientations.

1 There were a lot of scattered integrations
2 that she showed, but the diagram she showed was really
3 nowhere near clear enough to tell whether there was a
4 subpopulation that actually looked like it was clonal
5 expanding and that looked like it was oriented and in a
6 position to cause the same kinds of effects. That
7 said, I am not worrying about this, but I think -- at
8 the moment I think we have to agree that the risks of
9 this will outweigh the benefits at least in the
10 unmatched allotransplant population.

11 But very close monitoring -- I think there
12 should be two things. One is very close monitoring of
13 these patients, as tight as FDA can insist on really,
14 and also, I think it's very important to do meaningful
15 mechanistic studies of what's going on. We have to
16 understand what the relationship of the integrated
17 provirus and promotor and so on is to the actual
18 pathophysiology of the disease that's seen. And what
19 is the role that additional mutations are possibly --
20 that may be additional hits by integration, or they may
21 be just other mutations by other means.

1 All of this needs to be watched very closely
2 in post approval, assuming that it is approved.

3 **DR. LISA BUTTERFIELD:** All right. Thank you
4 very much, Dr. Coffin. Dr. Shah.

5 **DR. NIRALI SHAH:** It goes back -- I think
6 we're hearing the same things from the other
7 presenters. But again, I think we just need to
8 reiterate that we don't know a lot about this
9 particular form of MDS, and I think that the natural
10 history of being able to treat insertional oncogenesis
11 potentially related MDS is unknown. So I think that
12 will have to be very closely characterized.

13 And I think that it should be clear that if at
14 present moment we're assessing the risk based on the
15 three cases, but that we do think about what level
16 we're willing to accept overall knowing that, again,
17 that patient population that is most likely to come to
18 eli-cel is going to be the same population that does
19 not have good, related donor options which is why
20 they're choosing this in the first place. The long-
21 term monitoring is going to be critical to the next

1 step.

2 **DR. LISA BUTTERFIELD:** Thank you. So, my
3 cameras have frozen. Can you still see and hear me
4 well enough?

5 **MR. MICHAEL KAWCZYNSKI:** Yeah, we can hear
6 you. Let me just give it a shot here.

7 **DR. LISA BUTTERFIELD:** Okay. So I don't see
8 any additional hands up to weigh in on Question Three,
9 so I can summarize. And then I'll ask our FDA
10 colleagues if they have additional questions for the
11 Committee. So --

12 **MR. MICHAEL KAWCZYNSKI:** I think you had one
13 more.

14 **DR. LISA BUTTERFIELD:** Do we have one more?

15 **MR. MICHAEL KAWCZYNSKI:** Yup. Just in case
16 you had -- Dr. Ahsan do you have your hand up? Dr.
17 Ahsan, make sure you unmute yourself, please. Dr.
18 Ahsan, please unmute yourself. Hold on a second here.
19 She's muted, so take it away, Dr. Butterfield.

20 **DR. LISA BUTTERFIELD:** Okay. So, I'll
21 summarize what I heard for Question Three about MDS,

1 which is certainly seen by the Committee as a real
2 concern, certainly seen as something that the Committee
3 thinks is likely to increase in frequency given the
4 current data.

5 But the risks of GvHD toxicity versus the
6 risks of the CALD disease are nonetheless currently
7 seen as favorable. The future is not yet clear to what
8 extent will there be additional MDS cases in a higher
9 frequency, and notably the eli-cel patients who lack
10 autologous donors -- unmatched donors would also then
11 be in a less favorable transplant situation for
12 treatment of MDS if that's required.

13 So there's really an agreed need for a
14 detailed surveillance, sequencing biopsies to be able
15 to, one, intervene early in the MDS to have the best
16 opportunity for treatment, but also, again, to
17 understand the mechanism of action with this vector in
18 the eli-cel product and to collect baseline product
19 sequence integration data and other data to shed light
20 of the mechanism of the MDS.

21 Should I sign out and sign back in because my

1 screen's not come back to life yet?

2 **MR. MICHAEL KAWCZYNSKI:** Yup, go ahead, and
3 you can do that. And we'll hand it over to Christina
4 while you're doing that. Just stay on the phone.

5 **DR. LISA BUTTERFIELD:** I will stay on the
6 phone and will ask Dr. Bryan if FDA has other questions
7 for the Committee before we move forward.

8 **DR. WILSON BRYAN:** Thank you. No other
9 questions at this time. We'll look forward to the
10 voting questions and particularly the explanations from
11 the individual members on how they voted.

12 **DR. LISA BUTTERFIELD:** Thank you. Okay. I
13 hope I've signed back in again. Okay, Christina.

14 **DR. CHRISTINA VERT:** Dr. Butterfield.

15 **DR. LISA BUTTERFIELD:** Handing it back to you
16 for the vote.

17 **DR. CHRISTINA VERT:** Oh, okay. I'll go ahead
18 and get started. Only our six regular members and nine
19 temporary voting members, a total of 15, will be voting
20 in today's meeting. And with regards to the voting
21 process, Dr. Butterfield will read the final voting

1 question for the record, and afterwards all regular
2 voting members and temporary voting members will cast
3 their vote by selecting one of the voting options,
4 which include yes, no, or abstain.

5 You'll have one minute to cast your vote after
6 the question is read, and please note that once you
7 cast your vote you may change your vote within the one-
8 minute timeframe. However, once the poll has closed,
9 all votes will be considered final, and once all the
10 votes have been placed, we will broadcast the results
11 and read the individual votes out loud for the public
12 record.

13 Does anyone have any questions related to the
14 voting process before we begin? And also if you feel
15 you need more than one minute to cast your vote, let me
16 know if you need more time. We can increase the voting
17 time to two minutes, and also if I need more time, I
18 will extend the time as well.

19 **DR. LISA BUTTERFIELD:** Thank you.

20 **DR. CHRISTIAN VERT:** Mm-hmm.

21 **MR. MICHAEL KAWCZYNSKI:** We have a question

1 from -- we have one question here.

2 **DR. JOHN DIPERSIO:** I just want to make sure
3 that if the voting thing comes up on the screen here,
4 where do I find it? I missed the beginning so.

5 **MR. MICHAEL KAWCZYNSKI:** Yeah, so it'll come
6 up on the screen. We haven't pulled it up yet, sir.

7 **DR. JOHN DIPERSIO:** Okay. All right. That's
8 all, sorry. I'm good.

9 **DR. CHRISTINA VERT:** And please wait. I'll
10 tell you when to start the voting. Okay. So, yes,
11 another question from Dr. Lee?

12 **DR. JEANNETTE LEE:** Yeah, this is Jeannette
13 Lee. Is there more than one question we're going to
14 answer today?

15 **DR. CHRISTINA VERT:** Yes.

16 **DR. JEANNETTE LEE:** Okay.

17 **DR. CHRISTINA VERT:** There's two questions.

18 **DR. JEANNETTE LEE:** Okay. Thank you.

19 **DR. CHRISTINA VERT:** We'll show them. They'll
20 be a slide.

21 **DR. LISA BUTTERFIELD:** Okay.

1 **DR. CHRISTINA VERT:** I don't see any more
2 questions, so we'll go ahead and get started. Dr.
3 Butterfield, please read the voting question.

4 **DR. LISA BUTTERFIELD:** All right. Voting
5 Question One, everyone. "Are the lovo-cel safety data
6 relevant to the safety assessment of eli-cel?" And
7 hopefully your --

8 **DR. CHRISTINA VERT:** Okay. At this time, you
9 can go ahead and vote. Select your voting choice.
10 I'm going to extend the time a little bit because I'm
11 going through the votes.

12 **DR. LISA BUTTERFIELD:** Do we not have all the
13 votes yet?

14 **DR. CHRISTINA VERT:** I am checking right now.
15 Okay. Let's go ahead -- I'm going to end the vote.
16 And we can broadcast the vote results. Okay. All
17 right. Let's see what we have here. Hold on. Okay.
18 Okay. All right. There are a total of 15 voting
19 members for today's meeting, and as you can see, we
20 have one yes vote, 13 no votes, and one abstain. Okay.
21 And so, the vote does not pass for that particular

1 question.

2 I will now read the voting responses of each
3 voting member for the record. Okay. Randy Hawkins,
4 yes; Amylou Dueck, no; John Coffin, no; John DiPersio,
5 no; Sylvia Anspach, no; Bernard Fox, no; Steven
6 Shapero, no; Melanie Ott, no; Nirali Shah, no; Jaroslaw
7 Maciejewski, no; Jeannette Lee, no; Taby Ahsan, no;
8 Lisa Butterfield, no; Stephanie Keller, no; Donna
9 Roberts, abstain. And that concludes my reading the
10 voting responses of each member for the record.

11 And now we can go to the second voting
12 question.

13 **DR. LISA BUTTERFIELD:** All right. Voting
14 question number two, "Do the benefits of eli-cel
15 outweigh the risks for the treatment of any
16 subpopulation of children with early active cerebral
17 adrenoleukodystrophy, (CALD)?"

18 **DR. CHRISTINA VERT:** Okay. Let's start
19 voting. Looking one more time. Almost done here.
20 Okay. Okay. We can close the poll. All right. Okay.
21 Okay. So, you can broadcast the vote results. Okay.

1 Again, we have 15 total voting members for today's
2 meeting, and we have a unanimous vote of 15 out of 15
3 yes votes. The voting question passes unanimously. I
4 will read the voting responses of each voting member
5 for the record. Okay.

6 Amylou Dueck, yes; John Coffin, yes; John
7 DiPersio, yes; Sylvia Anspach, yes; Bernard Fox, yes;
8 Steven Shapero, yes; Melanie Ott, yes; Randy Hawkins,
9 yes; Nirali Shah, yes; Donna Roberts, yes; Jaroslaw
10 Maciejewski, yes; Jeannette Lee, yes; Taby Ashan, yes;
11 Lisa Butterfield, yes; Stephanie Keller, yes. And that
12 concludes my reading responses of each voting member
13 for the record, and I will now hand the meeting back
14 over to Dr. Butterfield to ask the Committee for their
15 voting explanation. Thank you.

16 **DR. LISA BUTTERFIELD:** Thank you. Well,
17 thanks everyone for voting. What we need to do now is
18 to go around and you can see after the voting questions
19 we're asked to explain our vote. For those of us who
20 voted yes to -- and just to clarify, we're only
21 discussing our vote to the final Question Two about

1 risk benefit and not the first question about the lovo-
2 cel safety data. Is that correct?

3 **DR. WILSON BRYAN:** Yes, that's correct. Thank
4 you and let me ask that part of the explanation is
5 about risk mitigation and monitoring. We ask the
6 Committee to be as specific as possible in your
7 recommendations regarding the population and any
8 monitoring that you think would be appropriate.

9 **DR. LISA BUTTERFIELD:** Thank you, Dr. Bryan.
10 Yes, so it's up there on the screen, and so all of us
11 did vote yes -- all 15. So when we go around what we
12 need to each weigh in on are the subpopulations of
13 children for whom we believe there's a favorable
14 benefit-risk profile, any additional information we
15 would consider necessary to support a favorable
16 benefit-risk profile in any other subpopulation, and
17 then any recommendations for risk monitoring and
18 mitigation in who receives eli-cel. So those are the
19 three things for us to touch on as we go around and so
20 let's see. I'm going to go back to the email I
21 received that does list the voting members.

1 And so, I will go top to bottom which -- the
2 voting members and then the temporary voting members,
3 I'll just go down this list. My name is first as
4 chair, and my yes vote was the subpopulation who I
5 believe there's a favorable risk-benefit profile is
6 those without a matched donor for hematopoietic stem
7 cell transplants.

8 I did not have any specific additional
9 information I would consider necessary to support a
10 favorable profile in other subpopulations. Perhaps our
11 clinical colleagues will have more suggestions there.
12 And then my recommendations for risk monitoring and
13 mitigation is to continue in-depth molecular analysis
14 including integration site sequencing and clinical
15 monitoring to catch any MDS early when it's easier to
16 treat.

17 With that, I move down the list to Dr. Fox.
18 After that it will be Dr. Lee, Ott, Shah, and Ahsan,
19 and then I'll move to the non-voting members. Dr. Fox.

20 **DR. BERNARD FOX:** So, I thought that this is
21 absolutely -- for the mismatched patients it's

1 absolutely necessary, but I think that even for with an
2 unrelated matched donor that those patients should be -
3 - the mismatched unrelated donor -- or matched
4 unrelated donor should also be an option for the
5 decision and discussion, so I felt very strongly that
6 listening to Dr. DiPresio talk about his 40 year
7 experience and the issues of GvHD in that population
8 that destroys the necessary -- I think to have that be
9 an option for physicians.

10 I think, two, the question about the
11 monitoring -- the issue, given where we are today and
12 that the technology that's available, I think that some
13 of the points that were brought up about RNHC and I
14 would think -- I don't know the role for things like
15 single cell, but I would be very aggressive in looking
16 at the mechanism of action for why you're getting
17 myelodysplastic syndrome in these patients. And I
18 think there's probably lots of tools -- I'm not an
19 expert in that area, but I can't imagine our great
20 tools to really dissect that and be looking at that.

21 And then the regular -- I'm not sure what the

1 timepoints are for the blood draws -- CDC's or
2 potential bone marrows -- but I think the clinical
3 people will be on that. I would support an aggressive
4 monitoring of these children, these boys.

5 **DR. LISA BUTTERFIELD:** Thank you. Dr. Lee.

6 **DR. JEANNETTE LEE:** I agree with the subset of
7 those who don't have a matched donor as a subpopulation
8 of who would benefit the most. I don't have any
9 specific additional information needed to support a
10 favorable benefit-risk profile. Again, I do endorse
11 aggressive monitoring, not only for MDS but I think
12 follow-up in general. So, I think it's an opportunity
13 to see how these children do with the (audio skip).

14 Thank you.

15 **DR. LISA BUTTERFIELD:** Thank you. Dr. Ott.

16 **DR. MELANIE OTT:** Yes, I also support the
17 application to patients with no HLA matched donors, for
18 number one. For number two, I think it would be good
19 to have a better matched data to make a decision about
20 the matched unrelated donors that were also mentioned
21 and also by some favored here. But I felt that here

1 the information and potentially the discrepancy between
2 the study groups that the FDA has pointed out might
3 make a difference and to revisit this and clean this
4 out would be beneficial.

5 And my third recommendation is also very close
6 monitoring for the MDS but also to look early into
7 before transplant into prevention and to see what we
8 can do there to identify either by integration
9 sequencing, expression, profiling -- those at risk that
10 develop years later so that we can actually by the time
11 for transplant potentially decide whether that
12 transplant should not be made.

13 So, I think there's an opportunity here in
14 this early phase after the transduction, before and
15 after the transduction of the hematopoietic stem cells
16 to really include some more steps that could
17 potentially lead to prevention of the MDS.

18 **DR. LISA BUTTERFIELD:** Thank you. Dr. Shah.

19 **DR. NIRALI SHAH:** Thanks. So, for the
20 subpopulation, I agree with everybody else. It should
21 be for those without HLA matched donor or those who

1 have a mismatched donor. For additional information
2 for other subpopulations, I would recommend that they
3 conduct an ongoing assessment for the role of
4 transplant. In particular, haploidentical transplant
5 in patients to conduct a contemporary analysis that is
6 parallel to the approval to evaluated the efficacy of
7 transplant.

8 In particular, be mindful that whatever
9 forward-facing analysis that they do addresses the
10 issues that the FDA raised as it related to the
11 benchmark calculation and comparability, and I think
12 that this becomes even more important, particularly as
13 newborn screening increases and patients are going to
14 be referred for treatment earlier when they're less
15 severely affected. So, I think knowing that will be
16 important.

17 In terms of recommendations, I think that I
18 would agree with the recommendations, continue the
19 integration site analysis that they have planned. I
20 would like to see the incidence of MDS and AML
21 developed in the population at least in every six

1 months basis, and I would like to make sure that the
2 outcomes for the treatment of MDS and AML are captured.

3 **DR. LISA BUTTERFIELD:** Thank you very much.

4 And final voting member, Dr. Ahsan.

5 **DR. TABASSUM AHSAN:** Yeah, I think I'll echo
6 what others have said about the subpopulation. I think
7 that those without a matched donor are a good patient
8 population for this, for the eli-cel. I think those
9 that do have potential for a matched donor, we should
10 leave that option open to the clinicians to do it on a
11 case-by-case basis. I think Dr. Shah very nice
12 articulated some things that they should consider about
13 making that evaluation.

14 In terms of what might support a more
15 favorable benefit-risk profile, I think that we need
16 the sponsor to continue to track very closely the onset
17 of MDS but also evaluating the quality of life through
18 various tools after the onset of MDS. Do the same for
19 those with graft versus host disease, track when the
20 onset is, the quality of life afterwards. I think that
21 that's just the real question about this is not about

1 the efficacy which might be similar, but the benefit is
2 really in terms of the onset of MDS versus the onset of
3 graft versus host disease.

4 So, tracking those I think is really important
5 to really deeper understand the benefit-risk profile.
6 Also, in terms of risk monitoring, I think what they've
7 been doing needs to be augmented a little bit. I think
8 about things in a couple of different ways. I do think
9 that they need to look at the drug substance and the
10 drug product attributes. I'd like to see tighter
11 tracks over time for the different lots, BCN, and
12 percent production in the drug product and then, of
13 course, tracking in the patient as well in insertional
14 site frequency, et cetera, to really have a deeper
15 understanding of how this oligoclonality might be
16 related to MDS.

17 **DR. LISA BUTTERFIELD:** Terrific. Thank you.

18 So, let's move to the temporary voting members. Drs.
19 Dueck, Roberts, Dr. M., DiPersio, Coffin, Hawkins,
20 Keller, Shapero, and Anspach. Dr. Dueck, please.

21 **DR. AMYLOU DUECK:** All right. So, I will

1 agree with all the previous folks and agree with the
2 HLA unmatched donors group. I didn't think any further
3 information is needed to support favorable benefit-risk
4 in other subpopulations. In terms of recommendations
5 for risk monitoring and mitigation, I agree with
6 continued monitoring and reporting of MDS, AML for
7 early diagnosis and treatment.

8 I also think it's important to report the
9 subsequent outcomes of those diagnosed with MDS and AML
10 so we understand what those ultimate outcomes are. And
11 that's it.

12 **DR. LISA BUTTERFIELD:** Thank you. Dr.
13 Roberts.

14 **DR. DONNA ROBERTS:** Yes. I didn't feel like I
15 had the genetic expertise to comment on the first
16 question, but on the second question I felt that this
17 product was indicated for non-matched donors. I also
18 think that there's a use in non-related donors that
19 could be left up to the clinician and patients'
20 discretion. As far as additional information that's
21 needed for other populations, I think that we need more

1 data understanding the risk and benefits of this
2 treatment versus stem cell transplant.

3 I like that the sponsor has already stated
4 that they're planning on doing post-marketing
5 monitoring and also offering the treatment in a limited
6 number of sites with the expertise to carry it out.

7 Some information that I think would be good to have too
8 is more information on racial and ethnic subpopulations
9 and how they respond to this treatment. One of the
10 things that there was a discrepancy between the
11 neurologic functions score and the MRI findings in that
12 the Loes scores increase whereas the neurologic
13 findings didn't, and I'd like to understand that better
14 in patients treated with this. And so, I think maybe
15 looking at something like lesion volume on MRI scans
16 and other findings on MRI scans might give more
17 information about that.

18 And we discussed some malignancy issue, but
19 another issue that has the potential to be serious was
20 the incident of seizures in these patients. The
21 sponsor mentioned that they were limited, but I saw

1 that some of the patients had repeated seizures. And
2 so I think that would be another important issue to
3 follow up on. But overall, I think this is a very
4 important product to have on the market.

5 **DR. LISA BUTTERFIELD:** Thank you very much.

6 Dr. M.

7 **DR. JAROSLAW MACIEJEWSKI:** Well, I voted --
8 this Question One, right?

9 **DR. LISA BUTTERFIELD:** Yes. So, this is
10 Question Two about efficacy, and we're looking at the -
11 - since we all voted yes, we're looking at those three
12 subquestions at the top of the screen.

13 **DR. JAROSLAW MACIEJEWSKI:** Yeah. Yeah, I see
14 only A and B. I see only two sub question. Are we
15 talking about going to A?

16 **DR. LISA BUTTERFIELD:** We're all on A because
17 we all voted yes.

18 **DR. JAROSLAW MACIEJEWSKI:** Yeah. I think the
19 monitoring is important for both. For ongoing results
20 of a competitor procedure memory allogenic bone marrow
21 transplant, particularly, why we need it to salvage

1 those patients who develop malignancy. Two patients
2 got transplant already, and if the rate will continue,
3 then I think that it's important to see what the
4 outcomes of the allogenic transplant without this would
5 be to be able to share with patient, pros and cons.

6 The post-market monitoring should include the
7 results and monitoring for the presence of -- for the
8 outcomes and the risk-benefit but also for alternative
9 procedures. That's good to have them for the patient
10 and family assessment of the options available.

11 **DR. LISA BUTTERFIELD:** Thank you. Anything
12 else?

13 **DR. JAROSLAW MARCIEJEWITZ:** No.

14 **DR. LISA BUTTERFIELD:** Okay. Dr. DiPersio.

15 **DR. JOHN DIPERSIO:** Yeah. So, I agree the
16 primary population should be mismatched donors, but I'm
17 inclined to include the matched unrelated donors as
18 well. It should be left up to the discretion of the
19 physician and the family and the patient. I think that
20 I would actually ask them to do sort of an analysis
21 with the CIDMTR (sic) to look at patient related

1 outcomes after matched unrelated donor transplants
2 compared to the patients in their study.

3 As far as other issues relating to things like
4 GvHD and disease related progression -- the patients
5 that have GvHD-free and disease-free progression --
6 that's the most important category. And then do some
7 post-marketing issues with patient related outcomes,
8 too. I think that would show a dramatic difference
9 between the groups. And then recommendations regarding
10 monitoring, I don't think I have anything to add to
11 what everyone else is said. I think that there are --
12 there's lots of biology and lots of important work that
13 needs to be done and wasn't done. I was really struck
14 by the lack of analysis of the sub-clonal architecture
15 of these MDS patients.

16 What were the driving underlying mutations,
17 and how were they progressing over time? So those are
18 the kinds of things that I would want to know, and I
19 think you have to do this by not just regular panel
20 sequencing but by corrective sequencing to really get
21 very sensitive measurements of progression of these

1 clones and see if some of these other genes outside of
2 the integration events are associated with driving the
3 disease forward.

4 **DR. LISA BUTTERFIELD:** Perfect. Thank you.

5 Dr. Coffin.

6 **DR. JOHN COFFIN:** Yeah, I agree with everybody
7 else that the mismatched subpopulation is the one to
8 recommend it's certainly for. Regarding the second
9 question, I agree with the sponsor's approach and that
10 several others have also, that this would be up to the
11 physician in consult with the family and patient, of
12 course. One of the things I would recommend in this
13 case, though, would be some intensive survey to assess
14 the quality-of-life issues that are involved in this
15 decision. We heard a lot of questions about that.

16 We didn't hear anything that was really real
17 data, just lots of parents had real problems, but the
18 ones that didn't have problems we didn't hear from.
19 And so we don't really know what the numbers of are as
20 far as being able to weigh these issues in the quality
21 of life. I would strongly recommend some surveys on

1 that by well-established outcomes type clinical
2 researchers.

3 And again, as I said before for number three,
4 I think the patients should be monitored very
5 intensively both for risk assessment for progression
6 and for mechanistic issues that might well inform
7 further development, by bluebird or by others wanting
8 to get into this field or in this field. For example,
9 some ideas about whether change in promotors would be
10 something worth doing for example. And lots of
11 mechanistic issues have also been raised by others --
12 additional mutations, RNA analysis to understand how
13 these genes are being driven and so on and so forth.

14 And one other point is that I would also --
15 the issue was raised that many of the patients who are
16 in this group will be ones who are there because they
17 did not have a good match to begin with, particularly
18 minorities of various kinds, and one of the issues
19 there is that in the case where you have the CLD,
20 you're really under the gun for the transplant. The
21 time is very short as far as I understand it before you

1 get irreversible damage.

2 I would guess that the time could be much
3 longer when you have to do -- if you have to do a
4 transplant later on because of MDS, you might have a
5 much longer window, and it might be worth researching
6 the availability of transplants as soon as you begin to
7 suspect that something -- that some adverse event like
8 MDS is on the horizon even though you don't know it for
9 sure. It would never be too late, or too soon
10 rather, to try to begin to discuss with the donor pool
11 to see if probably somewhat broader window assigned to
12 do it before it's too late.

13 **DR. LISA BUTTERFIELD:** Thank you. Dr.
14 Hawkins.

15 **DR. RANDY HAWKINS:** Thank you. Yes, thank
16 you. So, what was stated before, I'm not going to
17 repeat it. I would like to say this is a perfect
18 opportunity, I believe, to elevate the need for
19 potential donors in addition to reaching out to all
20 potential donors -- all citizens, particularly to reach
21 out to those groups that have difficulty with matches -

1 - Asians, African Americans, and Hispanics to deepen
2 the pool of potential donors so whenever there's
3 something that comes out -- and we have to see what FDA
4 says, this is the time when people -- you have to
5 capture people's minds, ears, and eyes and ask them
6 consider being a donor. Go into the pool so we know
7 who you are to see what's possible in the future.

8 **DR. LISA BUTTERFIELD:** Thank you. Dr. Keller.

9 **DR. STEPHANIE KELLER:** I think I agree with
10 everything everybody's already said. I think for the
11 populations I agree with everyone for both the
12 mismatched unrelated as well as the matched unrelated
13 groups. I really like the idea of the quality-of-life
14 measures, and I think that might help if there's any
15 potential difference between the eli-cel group and the
16 matched unrelated transplant group.

17 Then for the last group, I think the
18 observational studies concede for the treated patients
19 in the MDS group. I think they had mentioned CBC every
20 six months especially focusing on the patients that
21 have the low platelet levels at 100 days because they

1 seem to be at higher risk.

2 **DR. LISA BUTTERFIELD:** Thank you. And then
3 our two patient representatives. Mr. Shapero.

4 **DR. STEVEN SHAPERO:** Yeah, echoing everyone
5 else, subpopulation definitely would be unmatched
6 donors, but also make it available to the unrelated
7 matched donors, at least to the clinicians so they can
8 have it as they need it based on the particular
9 situation. Additional information, I don't really have
10 any additional information I can offer. Risk
11 monitoring and mitigation, mandatory ongoing monitoring
12 for MDS, of course AML, and any other negative outcomes
13 or any negative effects such as anemia or seizures that
14 they notice they should be keeping an eye out for and
15 be tracking it.

16 So basically, keep doing that if they've
17 already started but do it as intensively as possible.

18 **DR. LISA BUTTERFIELD:** Thank you. And Ms.
19 Anspach.

20 **MS. SYLVIA ANSPACH:** Hi. So, I also agree. I
21 feel mismatched donors and matched unrelated donors are

1 important people to include. I think having the
2 physicians and the family able to make the decision
3 when there's a matched unrelated donor is important. I
4 don't have any additional information to add. In terms
5 of the recommendations for risk monitoring, I agree
6 that limiting the number of sites is important because
7 -- and already have somewhat done that, but when people
8 are not transplanted in sites that are familiar with
9 ALD, it usually does not go particularly well, and
10 quality of life extended over time is important.

11 I think that as newborn screening comes on,
12 we're going to have a lot more information available,
13 and so having these options available for people as
14 they encounter ALD is super important. So, I thank the
15 Committee really for considering this and approving it
16 as a possibility.

17 **DR. LISA BUTTERFIELD:** Thank you. That
18 concludes the vote explanation period.

19

20 **CLOSING REMARKS**

21

1 **DR. LISA BUTTERFIELD:** So now for closing
2 remarks, I call on our FDA colleagues, and I'm not sure
3 if that's Dr. Bryan or Dr. Marks. Dr. Bryan.

4 **DR. WILSON BRYAN:** Yes. I just want to thank
5 the Committee. This is a challenging area for us, very
6 difficult clinical data for analysis and obviously the
7 science behind this insertional mutagenesis is complex,
8 and we really appreciate the deliberations of this
9 Committee. And the votes, I think, as well as the
10 deliberations will be very helpful to us in going
11 forward.

12 And, as always, I wanted to also thank the
13 participants in the open public hearing. It's very
14 important to hear the patient and advocacy voice. And
15 thank, once again, the review team and the folks from
16 the Advisory Committee staff. This meeting really has
17 been very helpful to us.

18 **DR. LISA BUTTERFIELD:** Excellent. Thank you,
19 Dr. Bryan. I then turn it over to Christina.

20 **DR. CHRISTINA VERT:** So, thank you, everyone.
21 Thank you, everyone, today. It was a great meeting,

1 and I would like to formally adjourn the meeting.

2

3 **[MEETING ADJOURNED FOR THE DAY]**

4

1

DAY 2

OPENING REMARKS: CALL TO ORDER AND WELCOME

3

16 DR. LISA BUTTERFIELD: Good morning, thank
17 you, Michael. Good morning, everyone. I'm Lisa
18 Butterfield. I'll be chairing today, and I'd like to
19 welcome all of the voting members, temporary voting
20 members, all of the participants across the U.S., as
21 well as the public who will be viewing remotely to

1 today's meeting.

2 A bit of housekeeping, I'd like to remind
3 people who are participating that when you have
4 questions, please use that Raise Hand function. That's
5 what I'll be looking at in order to call on you. With
6 that, I call the meeting to order, and I'd like to
7 introduce our designated federal officer for today, Ms.
8 Christina Vert.

9

10 **ADMINISTRATIVE REMARKS, ROLL CALL, INTRODUCTION OF**
11 **COMMITTEE, CONFLICT OF INTEREST STATEMENT**

12

13 **MS. CHRISTINA VERT:** Thank you, Dr.
14 Butterfield. Good morning, everyone. This is
15 Christina Vert, and it is my great honor to serve as
16 the Designated Federal Officer, DFO, for today's second
17 day of the 72nd Cellular, Tissue, and Gene Therapies
18 Advisory Committee Meeting. On behalf of the FDA, the
19 Center for Biologics Evaluation and Research, and the
20 Committee, I am happy to welcome everyone for today's
21 virtual meeting. Today the Committee will meet in open

1 session to discuss the biologic licensing application
2 BLA 125717 from bluebird bio. Today's meeting and the
3 topic were announced in the Federal Register published
4 on April 14, 2022.

5 I would now like to introduce and acknowledge
6 the excellent contributions of the staff in the
7 Division of Scientific Advisors and Consultants,
8 including our Director, Dr. Prabha Atreya, who is my
9 backup and co-DFO for this meeting. Other staff are
10 Dr. Sussan Paydar, Ms. Tonica Burke, Ms. Joanne
11 Lipkind, and Ms. Karen Thomas, who have provided
12 excellent administrative support in preparing for this
13 meeting. I would also like to thank Mr. Mike
14 Kawczynski in facilitating the meeting today.

15 Also, our sincere gratitude goes out to the
16 many CBER and FDA staff working hard behind the scenes
17 trying to ensure that today's virtual meeting will also
18 be a successful one. Please direct any press media
19 questions for today's meeting to the FDA's Office of
20 Media Affairs at fdaoma@fda.hhs.gov. The
21 transcriptionist for today's meeting is Ms. Ora Giles.

1 We will begin today's meeting by taking a
2 formal roll call for the Committee members and
3 temporary voting members. When it is your turn, please
4 make sure your video camera is on and you are unmuted,
5 and state your first and last name, organization,
6 expertise or role, and when finished, you can turn your
7 camera off so we can proceed to the next person.
8 Please see the member roster slides in which we'll
9 begin with the chair, Dr. Butterfield. Please go
10 ahead, Dr. Butterfield.

11 **DR. LISA BUTTERFIELD:** All right, good morning
12 again. My name is Lisa Butterfield. I'm the vice
13 president of Research and Development at the Parker
14 Institution for Cancer Immunotherapy, and an adjunct
15 professor of microbiology and immunology at University
16 of California, San Francisco. My expertise is in
17 cancer immunotherapy, cancer vaccines, cell therapies,
18 and biomarkers.

19 **MS. CHRISTINA VERT:** Thank you. Dr. Ahsan.

20 **DR. TABASSUM AHSAN:** Good morning, my name's
21 Taby Ahsan. I'm vice president of cell and gene

1 therapy operations at City of Hope. My research and
2 technical expertise for the last 25 years or so has
3 been in tissue engineering, stem cells, regenerative
4 medicine. My more recent focus has been on
5 immunotherapy for oncology.

6 **MS. CHRISTINA VERT:** Thank you. Dr. Fox.

7 **DR. BERNARD FOX:** I'm Bernard Fox. I'm the
8 Harder Family Chair for Cancer Research at the Earle A.
9 Chiles Research Institution, which is a division of the
10 Providence Cancer Institute. My area is in cancer
11 immunotherapy, primarily translational research and
12 cancer vaccines adoptive immunotherapy and biomarkers.
13 And I'm wearing white because it's Finish Cancer White
14 Day today. Thank you, FDA, for all the immunotherapy
15 work you've approved.

16 **MS. CHRISTINA VERT:** Oh great. Thank you.

17 Dr. Lee.

18 **DR. JEANNETTE LEE:** Good morning, my name is
19 Jeannette Lee. I'm a professor of biostatistics and a
20 member of the Winthrop P. Rockefeller Cancer Institute
21 at the University of Arkansas for Medical Sciences in

1 Little Rock.

2 **MS. CHRISTINA VERT:** Thank you. Dr. Ott.

3 **DR. MELANIE OTT:** Good morning. I'm Melanie

4 Ott, the director of the Gladstone Institute in San

5 Francisco. I'm also a professor of medicine at the

6 University of California, San Francisco. My expertise

7 is in molecular virology, HIV transcriptional

8 regulation, and antiviral vectors. Thank you.

9 **MS. CHRISTINA VERT:** Thank you. Dr. Shah.

10 **DR. NIRALI SHAH:** Hi, I'm Nirali Shah. I lead

11 the Hematologic Malignancies Section in the Pediatric

12 Oncology Branch. My expertise is in CAR T cell therapy

13 specifically for children, adolescents, and young

14 adults focused on hematologic malignancies.

15 **MS. CHRISTINA VERT:** Thank you. Dr. Coffin.

16 **DR. JOHN COFFIN:** I'm John Coffin, professor

17 of molecular biology and microbiology at Tufts

18 University in Boston, Massachusetts. My expertise is

19 in basic retrovirology with particular focus currently

20 on integration of HIV and other retroviruses with

21 regards to mechanism specificity and consequences.

1 **MS. CHRISTINA VERT:** Thank you. Dr. Crombez.

2 **DR. ERIC CROMBEZ:** Good morning, I'm Eric
3 Crombez. I'm the chief medical officer for our Gene
4 Therapy and Inborn Error of Metabolism program at
5 Ultragenyx. I've been working in the field of gene
6 therapy for the past eight years and serving as the
7 industry representative today.

8 **MS. CHRISTINA VERT:** Thank you. Dr. DiPersio.

9 **DR. JOHN DIPERSIO:** Good morning. I'm John
10 DiPersio, and I'm the chief of the Division Of Oncology
11 and deputy director of the Siteman Cancer Center at
12 Washington University in St. Louis. My areas of
13 interest include transplantation immunology,
14 hemopoietic niche and cancer genomics and cancer-
15 targeted therapy using gene therapy.

16 **MS. CHRISTINA VERT:** Thank you. Dr. Gordeuk.

17 **DR. VICTOR GORDEUK:** Good morning. I'm Victor
18 Gordeuk, director of the Sickle Cell Center at the
19 University of Illinois at Chicago, professor of
20 medicine, research interest in sickle cell disease and
21 other benign hematologic conditions, as well as

1 disorders of iron metabolism.

2 **MS. CHRISTINA VERT:** Thank you. Dr. Hawkins.

3 **DR. RANDY HAWKINS:** Good morning. I'm Randy
4 Hawkins. I'm in private practice internal medicine and
5 pulmonary critical care, Charles Drew University in Los
6 Angeles, and I'm the alternative consumer
7 representative. Good morning again.

8 **MS. CHRISTINA VERT:** Thank you. Dr.

9 Maciejewski.

10 **DR. JAROSLAW MACIEJEWSKI:** I am attending
11 physician at the Taussig Cancer Center. I specialize
12 in hematology, bone marrow failure, and myeloid
13 neoplasia. I run also Department of Experimental
14 Hematology and Oncology at Case Western Reserve
15 University.

16 **MS. CHRISTINA VERT:** Thank you. Dr. Singh.

17 **DR. NAVDEEP SINGH:** Hello, my name is Navdeep
18 Singh. I am an assistant professor at the University
19 of Toledo. My research interest is in racial
20 disparities with African Americans in cancer pain. I
21 have beta-thalassemia diagnosed at nine months old, so

1 I'm the patient representative today.

2 **MS. CHRISTINA VERT:** Thank you. Dr. Trieu.

3 **DR. JANELLE TRIEU:** Hello, I'm Janelle Trieu.

4 I'm a clinical pharmacist and center operations manager
5 in specialty home infusion. And I am the patient
6 representative with transfusion-dependent thalassemia.

7 **MS. CHRISTINA VERT:** Thank you. Thank you for
8 your introductions. We have a total of 14
9 participants, 13 voting and 1 non-voting member.

10 I would also like to acknowledge CBER
11 leadership, including Dr. Marks and Dr. Bryan.

12 Now I will proceed with reading of the
13 Conflicts of Interest statement for the public record.
14 Thank you.

15 The Food and Drug Administration is convening
16 virtually today, June 10, 2022, the 72nd Meeting of the
17 Cellular, Tissue, and Gene Therapies Advisory
18 Committee, CTGTAC, under the authority of the Federal
19 Advisory Committee Act, FACA, of 1972. Dr. Lisa
20 Butterfield is serving as the chair for today's
21 meeting.

1 Today on June 10, 2022, the Committee will
2 meet in open session to discuss the biologic licensing
3 application BLA 125717 from bluebird bio and company
4 for betibeglogene autotemcel (autologous CD34 positive
5 stem cells genetically modified with the lentiviral
6 vector to contain a gene encoding functional beta-
7 globin). The applicant has requested an indication for
8 the treatment of patients with beta-thalassemia who
9 require regular red blood cell transfusions.

10 This topic is determined to be a particular
11 matter involving specific parties. With the exception
12 of the industry representative member, outstanding and
13 temporary voting members of the CTGTAC are appointed
14 special government employees, SGEs, or regular
15 government employees, RGEs, from other agencies, and
16 are subject to Federal Conflict of Interest laws and
17 regulations.

18 The following information on the status of
19 this Committee's compliance with Federal Ethics and
20 Conflict of Interest laws including, but not limited
21 to, 18 U.S.C. Section 208 is being provided to

1 participants in today's meeting and to the public.
2 Related to the discussions at this meeting, all
3 members, RGE and SGE consultants of this Committee have
4 been screened for potential financial conflict of
5 interests of their own; as well as those imputed to
6 them, including those of their spouse or minor
7 children; and, for the purposes of 18 U.S. Code 208,
8 their employer.

9 These interests may include investments,
10 consulting, expert witness testimony, contracts and
11 grants, cooperative research and development
12 agreements, CRADAs, teaching, speaking, writing,
13 patents, and royalties, and primary employment. These
14 may include interests that are current or under
15 negotiation. FDA has determined that all members of
16 this Advisory Committee, both regular and temporary
17 members, are in compliance with federal Ethics and
18 Conflict of Interest laws.

19 Under 18 U.S.C. Section 208, Congress has
20 authorized FDA to grant waivers to special government
21 employees and regular government employees who have

1 financial conflicts of interest when it is determined
2 that the Agencies need for a special government
3 employee's service outweighs the potential for a
4 conflict of interest created by the financial interests
5 involved, or when the interest of a regular government
6 employee is not so substantial as to be deemed likely
7 to effect the integrity of the services which the
8 government may expect from the employee.

9 Based on today's agenda and all financial
10 interests reported by Committee members and
11 consultants, there have been no conflicts of interest
12 waivers issued under 18 U.S. Code 208 in connection
13 with this meeting.

14 We have the following consultants serving as
15 temporary voting members, Dr. John Coffin, Dr. John
16 DiPersio, Dr. Victor Gordeuk, Dr. Jaroslaw Maciejewski,
17 Dr. Navdeep Singh, and Dr. Janelle Trieu are serving as
18 voting patient representatives. Dr. Eric Crombez of
19 Ultragenyx Gene Therapy will serve as the alternate
20 temporary industry representative for today's meeting.
21 Industry representatives are not appointed as special

1 government employees and serve only as non-voting
2 members of the Committee.

3 Industry representatives act on behalf of all
4 regulated industry and bring general industry
5 perspective to the Committee. Dr. Randy Hawkins is
6 serving as the alternate temporary consumer
7 representative for this Committee meeting. Consumer
8 representatives are appointed special government
9 employees and are screened and cleared prior to their
10 participation in the meeting. They are voting members
11 of the Committee.

12 Disclosure of Conflicts of Interest for
13 speakers and guest speakers follows applicable federal
14 laws and regulations and FDA guidance. FDA encourages
15 all meeting participants, including open public hearing
16 speakers, to advise the Committee of any financial
17 relationships that they may have with any affected
18 firms, its products, and if known, its direct
19 competitors.

20 We would like to remind standing and temporary
21 voting members that if the discussions involve any

1 other products or firms not already on the agenda for
2 which an FDA participant has a personal or imputed
3 financial interest that participants need to inform the
4 DFO and exclude themselves from the discussion and the
5 exclusion will be noted for the record.

6 This concludes my reading of the Conflict of
7 Interest statement for the public record. At this
8 time, I would like to hand over the meeting to our
9 chair, Dr. Butterfield. Thank you.

10

11 **FDA OPENING REMARKS**

12

13 **DR. LISA BUTTERFIELD:** Thank you very much,
14 Christina. With that, I'd like to introduce Dr. Wilson
15 Bryan, Director of OTAT, for the FDA opening remarks.
16 Dr. Bryan.

17 **DR. WILSON BRYAN:** Good morning. On behalf of
18 the FDA, the Center for Biologics Evaluation and
19 Research, and the Office of Tissues and Advanced
20 Therapies, welcome back.

21 Today, we ask this Committee to consider

1 bluebird bio's BLA for beti-cel, a gene therapy for the
2 treatment of beta-thalassemia. Yesterday, we heard
3 about the risk of hematologic malignancy associated
4 with beti-cel and related products. Today, we will
5 hear about the efficacy and safety of beti-cel to the
6 treatment of transfusion-dependent beta-thalassemia.

7 We will ask this Committee to balance benefits
8 and risks of beti-cel in the setting of a treatable
9 disease. As with yesterday's discussion, we are asking
10 this Committee to focus on clinical issues regarding
11 safety and effectiveness. I would like to reiterate
12 that there are also CMC issues with these two
13 applications. The FDA is working with bluebird bio to
14 address those manufacturing concerns.

15 We are grateful to bluebird bio and the
16 scientists and other professionals who have brought
17 this product to this stage of development. We are also
18 grateful to the patients and their caregivers who
19 participated in the clinical trials discussed yesterday
20 and today.

21 The FDA thanks the participants in today's

1 open public hearing. To the patients and patient
2 advocates, your voice is always important to us. And
3 we particularly want to hear your thoughts on the
4 benefits and risks associated with this product. Many
5 individuals are not able to participate today, and we
6 appreciate and will carefully consider the written
7 comments that we received regarding beti-cel.

8 We want to thank all the members of this
9 Committee who have given their time to participate in
10 the discussions yesterday and today. Once again, I
11 want to thank the members of the FDA review team and
12 the Advisory Committee staff who have worked tirelessly
13 to prepare for today's meeting. I now turn to Dr.
14 Butterfield to continue with the agenda.

15

16 **SESSION 4: BETA-THALASSEMIA EFFICACY AND SAFETY**

17 **APPLICANT PRESENTATION: INTRODUCTION**

18

19 **DR. LISA BUTTERFIELD:** Thank you so much, Dr.
20 Bryan. In this two-day meeting, we move to Session 4
21 on beta-thalassemia efficacy and safety. And so I'd

1 like to now introduce the applicant presentations from
2 bluebird bio, starting with Ms. Eggimann.

3 **MS. ANNE-VIRGINIA EGGIMANN:** Thank you, Dr.
4 Bryan. Thank you, Dr. Butterfield. Good morning. I'm
5 Anne-Virginia Eggimann, Chief Regulatory Officer at
6 bluebird bio. I would like to thank the FDA, the
7 Panelists, and the CLD patient community for an
8 information and positive meeting yesterday. We're
9 excited to be here today. I look forward to discussing
10 the development of betibeglogene autotemcel, or beti-
11 cel. Thank you to the Agency, the Panelists, and the
12 patients who participated in our beti-cel trials, as
13 well as their families for making our meeting today
14 possible.

15 The proposed indication for beti-cel is for
16 the treatment of patients with beta-thalassemia who
17 require regular red blood cell transfusions. Beta-
18 thalassemia is a life-shortening disease. It is a rare
19 genetic blood disease caused by mutations in the beta-
20 globin gene. These mutations cause anemia due to
21 reduced or absent production of adult hemoglobin. For

1 patients with severe anemia, lifelong, regular red
2 blood cell transfusions as often as every two to three
3 weeks are required for survival and are burdensome.

4 These transfusions lead to inevitable chronic
5 accumulation of iron causing end-organ damage and
6 ultimately leading to a shortened lifespan.

7 Beti-cel is a first-in-class, single-
8 administration, lentiviral vector, or LVV, gene therapy
9 that addresses the underlying cause of beta-thalassemia
10 and has the potential to cure patients with this
11 lifelong disease.

12 Beti-cel consists of a patient's own blood
13 stem cells that have been genetically modified ex vivo
14 with a BB305 LVV. In vivo, the transduced cells
15 differentiate into red blood cells with sufficient
16 functional beti-cel-derived hemoglobin to eliminate the
17 need for transfusions in most patients. This process
18 is briefly depicted on the next slide.

19 After cell collection, BB305 LVV adds
20 functional copies of the beta A-T87Q-globin gene into
21 the patient's cells. These cells are then infused in

1 the patient after manipulative conditioning. After
2 engraftment, the genetically modified cells
3 differentiate into red blood cells containing adult
4 hemoglobin with two beta-globin chains derived from
5 beti-cel. We refer to this functional adult hemoglobin
6 as HbA-T87Q. Of note, the T87Q modification allows us
7 to measure directly in the blood of patients how much
8 hemoglobin is produced by beti-cel, which is very
9 helpful as this directly correlates with clinical
10 benefit.

11 Over the past decade, we learned a lot about
12 beti-cel. We learned how to improve beti-cel and
13 optimize clinical outcomes. Specifically in our Phase
14 1/2 studies, we learned that increasing transduction
15 efficiency, i.e., increasing the percentage of cells in
16 the drug product with integrated copies of the beta-A-
17 T87Q-globin gene, was necessary to successfully treat
18 patients with all genotypes. As a result, we improved
19 the manufacturing process before initiating our Phase 3
20 studies in which we treated 41 patients.

21 We are committed to the long-term follow-up of

1 patients for 15 years post-treatment in our LTF-303
2 Study and post-approval in our REG-501 Registry. In
3 total, we treated 63 patients with beti-cel with up to
4 seven years of follow-up.

5 Our data support a positive benefit/risk for
6 the proposed beti-cel indication. There is consensus
7 that beti-cel provides a clinically meaningful benefit.
8 In our Phase 3 studies, we demonstrated a high rate of
9 durable transfusion independence as well as trends of
10 improvement in iron overload and erythropoiesis.

11 Betti-cel's safety profile largely reflects
12 known side effects of mobilization and conditioning
13 agents. Importantly, during beti-cel clinical
14 development, there was no deaths, no malignancy, and no
15 BB305 LVV-mediated safety event.

16 This is our agenda for today. Bluebird bio
17 speakers, as well as external experts, will share
18 robust evidence supporting beti-cel benefit/risk
19 assessment, as well as our plans for post-marketing
20 safety surveillance. Additional key experts will be
21 available to answer questions. I will now turn the

1 presentation over to Dr. Sheth, who will speak to the
2 significant unmet medical need in patients with beta-
3 thalassemia who require regular red blood cell
4 transfusions.

5

6 **APPLICANT PRESENTATION: UNMET MEDICAL NEED**

7

8 **DR. SUJIT SHETH:** Thank you very much, Dr.
9 [sic] Eggimann. Good morning. I'm Sujit Sheth and
10 professor of pediatrics at Weill Cornell Medicine in
11 New York City. I received honoraria from bluebird bio
12 for being with you today. However, I do not have any
13 financial interest in the outcome of today's meeting,
14 and, after 30 years of treating patients with beta-
15 thalassemia, I have a personal and powerful interest in
16 being here today to support the availability of new
17 options for the treatment of my patients.

18 Beta-thalassemia is a life-long, inherited
19 condition with a high burden of disease and
20 complications over the entire life of the patient. The
21 most severe form requires life-long, regular

1 transfusions initiated early in life, which are very
2 cumbersome and hospital time-intense. The overall
3 treatment and monitoring regimen requires a lot of
4 medical visits, which progressively increase over time
5 as complications develop, and have a significant
6 negative impact on survival and quality of life.

7 While treatment has greatly improved, there
8 remains a huge unmet need for curative options
9 available to all patients. Nearly 350 mutations have
10 been identified that may cause beta-thalassemia. These
11 mutations may be beta-zero mutations where no
12 functional beta-globin is produced; beta-plus, where
13 there is a reduction in beta-globin production but is
14 not completely absent; and beta-E mutations, which
15 result in the production of beta-E-globin.

16 Patients with beta-thalassemia mutations in
17 both beta-globin genes, therefore inheritance is
18 autosomal recessive, and may be broadly classified as
19 having beta-zero beta-thalassemia with no production of
20 hemoglobin A, or non-beta-zero beta-zero thalassemia
21 where there's some but decreased production of

1 hemoglobin A.

2 The spectrum of clinical severity of beta-
3 thalassemia is quite wide, ranging from asymptomatic
4 individuals with a trait to the most severe form
5 requiring regular transfusions, called Cooley's Anemia
6 or Thalassemia Major.

7 Clinically we've moved towards classifying
8 beta-thalassemia disease into two broad categories:
9 non-transfusion-dependent thalassemia, or NTDT, which
10 includes patients with what used to be called, or is
11 still called sometimes, Thalassemia Intermedia; and
12 transfusion-dependent thalassemia, or TDT, which was
13 called Thalassemia Major or Cooley's Anemia.

14 It is important to keep in mind that patients
15 may transition from NTDT to TDT over time as
16 complications develop or as the total hemoglobin levels
17 drop.

18 Treatment options for TDT patients are
19 limited. Transfusion and iron chelation are the
20 chronic treatment with recent availability of
21 luspatercept as an adjunct in adult patients.

1 Allogeneic transplantation is the only potentially
2 curative option currently available primarily offered
3 to children and young adolescents with TDT.

4 Overall, thalassemia-free survival after
5 allogeneic transplant is around 90 percent with the
6 best outcomes being in pediatric patients with matched
7 donor availability. Results are best when this is done
8 early in life before complications like
9 alloimmunization and iron-related organ damage have
10 occurred.

11 A successful transplant is transformative.
12 Individuals become transfusion-independent and after
13 appeared of either chelation or phlebotomy to get rid
14 of the previously accumulated iron. They're free of
15 chelation as well.

16 They are left with normal or near-normal bone
17 marrow activity and no progression of complications of
18 ineffective erythropoiesis or iron overload. Most
19 importantly, their quality of life after the first year
20 or so is almost normal. Visits to the hospital are
21 limited to quarterly or semi-annual follow-up visits

1 with some monitoring required for complications which
2 may have already occurred before the treatment.

3 Potential risks are significant and include
4 development of graft versus host disease, graft failure
5 or rejection, and a small risk of mortality all more so
6 in mismatched or unrelated donors.

7 Despite these complications, given the high
8 burden of disease, its complications, and its enormous
9 impact on quality of life, allogeneic stem cell
10 transplantation has become accepted practice for a
11 subset of patients with TDT, namely young children with
12 matched related donors. Unfortunately, only
13 approximately 25 percent of patients have a matched
14 related donor. Therefore, in my opinion, limited
15 access to potentially curative transplant based on
16 donor availability underscores the need for a more
17 widely available curative option.

18 This slide shows the journey for patients with
19 TDT who are not able to be transplanted. Starting at a
20 young age of regular transfusions, addition of
21 chelation, starting regular monitoring, all of which

1 intensifies over time. Seeing a healthcare
2 professional more often than you see your family or
3 friends is not a good thing.

4 The lower part of the slide shows the
5 evolution of complications related to iron overload.
6 Complications include delayed growth in the first
7 decade of life, delayed puberty, diabetes, and other
8 endocrinopathies as well as heart failure in the second
9 decade of life. And then in adults, there's secondary
10 amenorrhea in females, infertility in both males and
11 females, osteoporosis and fractures, and liver disease.

12 Beta-thalassemia is a complex disease in which
13 ineffective erythropoiesis as a result of the alpha-to-
14 beta-globin imbalance is central to the path of
15 physiology. There are myriad complications in beta-
16 thalassemia, which can be disease-related, as seen on
17 the left of the slide, mostly in NTDT patients; and
18 treatment-related, mostly related to complications of
19 the regular transfusions, as seen on the right in TDT
20 patients.

21 Disease-related complications are due to

1 ineffective erythropoiesis, which leads to chronic
2 anemia, extramedullary hemopoiesis, and bone disease;
3 vascular disease, leading to cerebral infarcts and the
4 development of pulmonary hypertension; and iron
5 overload from increased absorption of iron from the
6 gut. Transfusion complications include reactions;
7 blood-born infections; and those related to iron
8 overload, including endocrinopathy, liver and heart
9 disease, as well as issues related to chelator
10 toxicity. There's also significant impairment in
11 quality of life, and mental health issues in both
12 patients with TDT and NDTD.

13 The leading cause of mortality in beta-
14 thalassemia remains iron overload-related cardiac
15 disease though the rate has declined over the years
16 because of more effective chelation regimens. Other
17 causes of death include liver disease, infection, and
18 vascular events. Hepatocellular carcinoma linked to
19 iron overload and potentially complicated by viral
20 hepatitis is the most common malignancy in this
21 population. Data from the Cooley's Anemia Foundation

1 showed that the median age of death among patients in
2 their database over the last decade was just 37 years,
3 which is half that of the average American.

4 The potential for developing complications
5 (inaudible) patients of comprehensive, lifelong
6 monitoring, as noted here at frequencies varying from
7 every 3, 6, 12 to 24 months. Ongoing regular
8 assessments of quality of life and mental health issues
9 are important as well.

10 The impact of the disease and its management
11 of the lives of these patients cannot be minimized.
12 The typical patient receives 15 to 25 transfusions a
13 year, two or three units at each visit, which typically
14 lasts the entire day, longer if they're allantiasis or
15 if they have a reaction.

16 While they may feel relatively able to cope
17 with and adapt to day-to-day life, the burden of
18 disease is tremendous. In addition to organ
19 complications, anxiety and depression are not uncommon.
20 There is a major financial impact as well with high
21 healthcare costs associated with significant out-of-

1 pocket expenses and lost workdays.

2 In summary, beta-thalassemia is a lifelong
3 disease with a very heavy burden for patients and an
4 enormous impact on quality of life. Regular
5 transfusion and more effective iron chelation have
6 played a central role in extending life expectancies
7 for these patients.

8 Allogeneic stem cell transplants, available
9 only to a limited number of patients, is a potentially
10 curative option. However, these treatments and their
11 potential complications continue to have a significant
12 impact on the lives of patients and their families,
13 thus underscoring the huge need for a more widely
14 available curative treatment. Thank you. I will now
15 pass it over to Dr. Colvin.

16

17 **APPLICANT PRESENTATION: EFFICACY**

18

19 **DR. RICHARD COLVIN:** Thank you, Dr. Sheth.
20 Good morning. I am Richard Colvin, Chief Medical
21 Officer of bluebird bio. I will show you data that

1 demonstrates that approximately 90 percent of patients
2 with beta-thalassemia became durably transfusion-
3 independent after treatment with beti-cel. Beti-cel
4 outcomes in Phase 3 studies were similar in adults and
5 pediatric patients and in patients with all major
6 categories of beta-thalassemia genotype studied.

7 First, we'll review the clinical development
8 of beti-cel. Clinical development of beti-cel began
9 with the Phase 1/2 Studies HGB-205 and HGB-204. The
10 Phase 3 studies included adults, adolescents, and
11 children under 12, as well as patients with beta-zero
12 and non-beta-zero genotypes. The HGB-207 Study
13 enrolled and treated adults first to establish the
14 safety and benefit before proceeding into pediatric
15 patients.

16 The Phase 1/2 and Phase 3 studies followed
17 patients for two years after which patients continued
18 in the long-term follow-up study LTF-303 for 13
19 additional years. All 51 eligible patients have
20 enrolled in LTF-303. Let's now review the details of
21 the Phase 3 studies.

1 Although the beta-globin genotypes of patients
2 enrolled in the two Phase 3 studies differed, both
3 studies included patients who received greater than 100
4 milliliters per kilogram per year of packed red blood
5 cells in the two years prior to enrollment. Patients
6 were less than 50 years old and included children under
7 the age of 12.

8 The key difference between Studies 207 and 212
9 is that 207 included patients with non-beta-zero
10 genotypes while 212 included patients with beta-zero
11 genotypes and patients with the IVS-I-110 genotype,
12 which is a severe non-beta-zero genotype. Patients
13 with familial cancer syndromes were excluded. Baseline
14 screening for somatic or germline mutations was not
15 done as part of this screening.

16 The primary endpoint of both studies was the
17 proportion of patients who achieved transfusion
18 independence. Other than beta-globin genotype, the
19 characteristics of the patients in HGB-207 and 212 were
20 similar. HGB-207 enrolled patients with non-beta-zero
21 genotypes and included six patients with an HB-E

1 genotype. Patients with this genotype are usually
2 considered to have a slightly less severe form of
3 transfusion-dependent thalassemia but still require a
4 regular transfusion regimen.

5 Twelve of 18 patients in HGB-212 had beta-zero
6 genotypes, and 6 of 18 had an IVS-I-110 genotype. Both
7 studies included patients from about age 4 to about 34.
8 Adult patients, adolescent patients, and pediatric
9 patients less than 12 years of age were well-
10 represented in both studies.

11 Median iron burden at enrollment was
12 relatively low for patients with transfusion-dependent
13 thalassemia in both studies. This is likely because
14 the patients were well-managed with chelation prior to
15 enrollment and that most patients were adolescents or
16 younger. However, several patients with elevated liver
17 and/or cardiac iron burden were treated in 207 and 212.

18 Seventeen percent of the patients in each
19 study had a splenectomy prior to treatment. Therefore,
20 83 percent of the patients in these studies retained
21 their spleens.

1 Pre-treatment packed red blood cell
2 transfusion volume per year was similar for patients in
3 both studies.

4 Next, we'll turn our attention to the primary
5 efficacy endpoint data from Studies 207 and 212.
6 Overall, 32 of 36 patients treated with beti-cel in the
7 Phase 3 studies who had enough follow-up time to
8 evaluate for transfusion independence achieved
9 transfusion independence. There were 22 pediatric
10 patients in these studies, and 20 of these patients
11 achieved transfusion independence.

12 Note that these results include patients with
13 beta-zero and non-beta-zero genotypes. With these
14 results, both studies met the pre-specified success
15 criteria for the primary efficacy endpoint of the
16 proportion of patients achieving transfusion
17 independence. During transfusion independence, the
18 median weighted average hemoglobin was 11.5 grams per
19 deciliter, which is in the normal range for most
20 patients in the study. Transfusion independence is
21 durable and ongoing in all patients that achieved TI.

1 The median duration of ongoing TI is 25 months and
2 ranges from 12 and a half to 39.4 months.

3 Let's look at the data for individual
4 patients. Overall, almost 90 percent of evaluable
5 patients in the transplant population across both
6 studies became transfusion-independent. In this chart
7 the X-axis represents time. Each bar represents a
8 patient that achieved transfusion independence. Red
9 dots represent transfusions that patients received.
10 You can see at baseline prior to treatment, which
11 occurred at Month 0 on the X-axis, patients received a
12 median of 17 transfusions per year.

13 Following hemopoietic recovery, 32 patients
14 became transfusion independent. Notice that all these
15 patients have remained transfusion-independent through
16 last follow-up, which amounts to up to 48 months after
17 treatment. You may notice the one red dot at
18 approximately Month 22, this patient had a transfusion
19 for an acute bleed that occurred during orthopedic
20 surgery and has not received any additional
21 transfusions. Four patients did not become transfusion

1 independent.

2 The four bars in the shaded area represent the
3 patients who did not achieve transfusion independence.
4 These patients continued to receive transfusions after
5 treatment with beti-cel. The drug products that these
6 patients received had relatively low percentages of
7 transduced cells, which resulted in inadequate HBA-T-
8 87Q production to achieve high enough total hemoglobin
9 level in order to completely discontinue transfusions.

10 Additionally, five patients did not have
11 enough time of follow-up to be evaluable for TI at the
12 time of the BLA data cut. The five bars highlighted in
13 green at the bottom of the plot represent these five
14 patients. None of these patients are currently
15 receiving transfusions, and, as of last week, all five
16 of these patients have become evaluable and are
17 transfusion-independent, meaning that 37 of 41, or 90
18 percent of the patients treated in the Phase 3 studies
19 have become transfusion-independent.

20 This figure shows that the unsupported total
21 hemoglobin in patients treated with beti-cel who

1 achieved TI remain stable over time. The blue line
2 represents the total hemoglobin in patients in HGB-207.
3 The red line represents the total hemoglobin in
4 patients in HGB-212. Total hemoglobin is stable after
5 about Month 3 and out to the last follow-up at Month
6 42.

7 The purple and green lines represent total
8 hemoglobin from patients in Studies HGB-204 and 205.
9 Importantly, total hemoglobin for patients in Studies
10 HGB-204 and 205 is stable out to seven years without
11 any sign of decline.

12 These results demonstrate that transfusion
13 independence and total hemoglobin are stable following
14 beti-cel treatment. Stable transfusion independence
15 and near-normal hemoglobin levels reduce the
16 complications of thalassemia.

17 In addition to achieving transfusion
18 independence, the post-beti-cel treatment course was
19 consistent with that of allogeneic transplant with
20 respect to iron burden. The results are improved
21 erythropoiesis and decreased iron storage is that over

1 time patients were able to discontinue iron reduction
2 therapies.

3 It is important to acknowledge that iron
4 management was not prespecified in the protocols and
5 was left to physician and patient discretion. However,
6 within this context, iron was reduced enough so that
7 most patients were able to stop iron chelators
8 following beti-cel treatment.

9 Additionally, 11 patients had phlebotomies to
10 reduce iron overload. These are patients with
11 thalassemia who received packed red blood cell
12 transfusions for most of their lives. Following beti-
13 cel treatments, they produce enough hemoglobin to be
14 able to have blood taken from them in order to remove
15 excess iron.

16 To simply summarize, beti-cel treatment
17 transforms the lives of patients with beta-thalassemia.
18 Transfusion independence is durable and expected to be
19 lifelong for adults and pediatric patients with beta-
20 thalassemia of all genotypes. Approximately 90 percent
21 of patients treated with beti-cel achieved near-normal

1 or normal levels of hemoglobin without transfusions.
2 Transfusion independence is evidence of a
3 meaningful, therapeutic effect. It eliminates the risk
4 associated with chronic blood transfusion, removes the
5 need for time-consuming frequent transfusions in the
6 hospital, results in improved erythropoiesis, and
7 allows patients to stop iron chelation with normal iron
8 burden thereby reducing the risk of organ damage. The
9 total hemoglobin levels these patients achieve are
10 expected to reduce or eliminate the complications of
11 beta-thalassemia. These data demonstrates that the
12 benefits of beti-cel treatment for patients with beta-
13 thalassemia are profound.

14 Thank you for this time. My colleague, Dr.
15 Ajay Singh will now present the safety outcomes in the
16 studies of beti-cel.

17

18 **APPLICANT PRESENTATION: SAFETY**

19

20 **DR. AJAY SINGH:** Thank you, Dr. Colvin, and
21 good morning. My name is Ajay Singh, and I'll be

1 providing an overview of the safety of the beti-cel
2 program which is derived from the 63 patients who
3 received the drug product and therefore constituted a
4 safety cohort.

5 Of these, 51 are currently in the long-term
6 extension study. As of the BLA, the median follow-up
7 was approximately three years, and the total exposure
8 is 221 patient-years. Currently, the median follow-up
9 is greater than four years.

10 In terms of the forthcoming content, in an
11 effort to highlight the key issues likely to be of
12 interest to the panel, I will briefly describe the
13 overall safety profile and then focus the rest of the
14 presentation on five main topics: platelet engraftment,
15 bone marrow findings, recapitulation of vector safety,
16 issues raised in the FDA briefing document which relate
17 to patients in the lovo-cel program utilizing the same
18 vector, and our plans to ensure rigorous oversight to
19 the FDA-approved beti-cel.

20 In terms of the safety profile, overall
21 survival remains 100 percent. There have been no cases

1 of acute or chronic GVHD, not surprising given the
2 tolerant nature of the treatment.

3 The adverse event profile on the regimen as a
4 whole was predominantly reflective of myeloablation and
5 localization. Events deemed specifically related to
6 beti-cel by the investigators typically fell into one
7 of two categories: cytopenias and infusion-reaction,
8 which were generally mild and transient. We've had no
9 cases of hematologic malignancy to date. Safety was
10 similar across genotype and age with one notable
11 exception, younger patients had longer engraftment.

12 In terms of engraftment, all patients achieved
13 successful engraftment. As shown on the left, the
14 median time to neutrophil engraftment applying standard
15 definitions was 23 days. Time to platelet engraftment
16 was slower, median time of 45 days. Contextualization
17 of these times has been limited by the fact that the
18 only meaningful information in literature is in
19 patients who have received allografts. Data from which
20 are provided in the next slide.

21 Engraftment times noted in four such papers

1 are juxtaposed to the beti-cel data. Neutro
2 engraftment is on the left, platelet engraftment is on
3 the right. Overall, engraftment times are long with
4 beti-cel. This is particularly true when looking at
5 platelet engraftment with a median time of 45 days,
6 this compared to 12 to 30 days noted with allogeneic
7 counterparts.

8 As part of a risk assessment, we interrogated
9 our database to determine if any intrinsic or extrinsic
10 factors correlated with time to platelet engraftment.
11 The most consistent and dominant factor was the spleen
12 status of the patient. This is shown graphically here.
13 The ordinate shows cumulative incidence of successful
14 platelet engraftment. The abscissa shows time in days.
15 Patient with the spleen shown in teal had a median time
16 of 49 days compared to 33 days for patient without a
17 spleen, shown in orange. As noted, this difference was
18 highly statistically significant.

19 Further recover of platelets beyond the
20 engraftment threshold of 20,000 to 100,000 and to lower
21 limit or normal was also impacted by the spleen.

1 Patient without a spleen had a more brisk recovery.
2 For example, in regards to recovery to lower limit of
3 normal, the splenectomized patients, shown on the left,
4 all recovered to lower limit of normal, median time of
5 60 days. By contrast, patient with a spleen recovered
6 with a median time of 199 days, and 11 out of 47
7 patients did not recover at this threshold. However,
8 it is noteworthy that some of these patients had counts
9 below the lower limit of normal prior to therapy.

10 There is at least one publication with allo
11 transplantation which corroborates the impact of the
12 spleen on platelet engraftment. Matthews et al.
13 reported the mean time to platelet engraftment was 10
14 days longer if they had a spleen or, in this case, no
15 splenectomy in this table. They hypothesized that
16 (inaudible) sequestration, including potentially stem
17 cell sequestration may have contributed to this
18 phenomenon.

19 However, it is noteworthy that platelet
20 engraftment times were still longer with beti-cel in
21 each of the two cohorts, splenectomy and no

1 splenectomy. What remains unclear is while these
2 engraftment times are reflective of those expected with
3 autologous transplantation or gene therapy in general,
4 but the longer time is probably unique for beti-cel.
5 Therefore, as a conservative measure, we have noted
6 delayed platelet engraftment to be an identified risk.

7 To summarize, time to platelet engraftment is
8 prolonged compared to allogeneic transplantation.

9 Mechanism is not fully elucidated. However, the spleen
10 plays a key role. As noted in previous presentations,
11 TB34 enriched cells are cryopreserved after
12 transception. There is literature to suggest that
13 cryopreservation may result in longer engraftment
14 times; however, the contribution of cryopreservation
15 with the observations today remain punitive. Though
16 platelet recovery was sluggish, it was steady.

17 You may have seen an analysis noting that
18 greater than 50 percent of patients were unable to
19 sustain a platelet count greater than 100,000. We
20 would like to emphasize that this was the result of an
21 analysis that had limited clinical value. As of the

1 BLA cutoff, 90 percent of patients have reached a
2 stable count of 100,000. Though not discussed here,
3 but as presented in the briefing book, the clinical
4 consequences were limited. There was one serious case
5 of epistaxis in context with delayed platelet
6 engraftment.

7 We did examine the evolution of erythroid and
8 metatartaric morphologic changes in context of time to
9 platelet engraftment. With the caveat that these are
10 qualitative assessments, we found no evidence that
11 longer engraftment times were associated with higher
12 frequencies of these morphologic changes. As Dr.
13 Colvin noted, bone marrow assessments are routinely
14 performed and up to Phase 3 studies.

15 Study 207 and 212 samples are collected at
16 baseline Month 12 and Month 24. Not surprisingly, the
17 baseline evaluation were critical in assessing the
18 evolution of the various findings. As it turns out,
19 the dataset of approximately 40 patients represents one
20 of the most exhaustive sampling available in patients
21 with TDT given that bone marrow examination is not

1 routinely done. However, the ineffective
2 erythropoiesis has been well-demonstrated, which is
3 manifested by increase in, turnover of, and apoptosis
4 of the erythroid precursors.

5 The effects of these were evident in the
6 baseline samples from the Phase 3 studies. These
7 demonstrated variable amounts of erythroid hyperplasia
8 with M:E ratios typically in the 0.3 to 0.7 range,
9 erythroid precursors with dysplastic features,
10 cytoplasmic inclusions, ring sideroblasts, and
11 dysmegakaryopoiesis.

12 In terms of evolution post-gene therapy, there
13 was improvement in the erythroid hyperplasia, as
14 evident by the improvement in the M:E ratio and near
15 complete disappearance of the cytoplasmic inclusions.
16 However, the morphologic abnormalities were noted both
17 at baseline and post-treatment. We hypothesized that
18 one of the reasons for the persistence of the
19 morphologic abnormalities is the fact that not all stem
20 cells are transduced. Resulting in some degree of
21 stress erythropoiesis within the marrow of these

1 patients.

2 To summarize, the pathology was consistent
3 with stress erythropoiesis and the attendant erythroid
4 hyperplasia improved over time. There was evidence of
5 erythroid dysplasia, dysmegakaryopoiesis at baseline
6 and follow-up, but none of these findings were
7 suggestive of MDS or emerging MDS.

8 Moving on to vector safety, 61 patients had
9 testing for replication-competent lentivirus, all
10 negative. All 63 patients have had at least one ISA.
11 Fifty have shown polyclonal reconstitution. One
12 patient was noted to have oligoclonality at the last
13 visit, and the insertion site is not a known oncogene.
14 Two other patients had oligoclonality confirmed on a
15 subsequent ISA, hence these patients met the criteria
16 for persistent oligoclonality.

17 The details are presented here. Please note,
18 all the patients have greater than five years of
19 follow-up. The pictures represent relative frequencies
20 of the different insertion sites. The dotted line
21 represents ten percent. The clonal dynamics show

1 stable oligoclonality. Both patients had prolonged
2 platelet engraftment times, 91 and 191 days, but both
3 have an intact spleen. The patient on the left never
4 had a bone marrow, whilst the patient on the right did
5 have one four years ago, which was normal. All the
6 patients are clinically stable.

7 To date, we've had no cases of LVV-mediated
8 insertional oncogenesis. Before closing, I would like
9 to address an issue that was raised in the Agency's
10 briefing book regarding two cases in the sickle cell
11 program treated with lovo-cel. In addition to sickle
12 cell disease, both patients had two alpha gene
13 deletions. These are the only two patients in the
14 program with such deletions. Both presented with
15 anemia. The second patient also had neutropenia.

16 Bone marrow examination demonstrated
17 morphologic abnormalities in the erythroid line, which
18 raised the concern for MDS. Both patients had
19 transient gain of chromosome eight by FISH, a normal
20 karyotype, and no driver mutation are noted on next-
21 generation sequencing. Given the possibility of MDS,

1 we had the pathology reviewed by Dr. Hasserjian, who is
2 an expert in the MDS pathology. And we reviewed the
3 picture with clinical experts in the field as well.

4 The consensus amongst our consultants was that
5 the clinical pathological picture was not suggestive of
6 MDS given the following facts. Number one, there was
7 no clonal process. The ISA showed highly polyclonal
8 reconstitution, and the NGS was unremarkable. Dr.
9 Hasserjian's assessment was that the pathology was
10 consistent with stress erythropoiesis. And, number
11 three, the overall picture was very similar to
12 alpha/beta-globin imbalance given patients'
13 hemoglobinopathies.

14 Returning back to beti-cel and review of the
15 safety issues, delayed platelet engraftment is
16 categorized as an identified risk and the presence of a
17 spleen had a clear impact, which we believe is
18 reflective of hypersplenism, commonly seen in patients
19 with TDT. Similarly, given the clear evidence of bone
20 marrow abnormalities at baseline, the morphological
21 changes were consistent with underlying TDT and the

1 associated erythropoietic stress.

2 There have been no case of hematologic
3 malignancies and no cases of insertional oncogenesis in
4 the 63 patients in the beti-cel program or the 113
5 patients treated with drug product made with BB305 LVV.

6 All but three patients had polyclonal reconstitution.

7 There were insertion sites that were frequently noted.

8 These included MECOM and VAMP4. The latter you heard
9 yesterday is not an identified proto-oncogene. Their
10 relative frequencies were less than 0.25 percent, and
11 there was no correlation with VAMP4 insertion and
12 platelet engraftment times.

13 In terms of risk mitigation, we are proposing
14 clear communication for a prolonged time to platelet
15 engraftment while labeling and education of the
16 qualified treatment centers. The three patients who
17 are currently oligoclonal will continue to have
18 enhanced surveillance. Bluebird will facilitate ISA as
19 clinically indicated in the post-marketing setting.

20 Regarding insertional oncogenesis, we acknowledge that
21 this and other potential long-term risks require

1 careful and rigorous surveillance, which we are
2 committed to through our long-term pharmacovigilance
3 plans.

4 All patients in the clinical trials will be
5 enrolling in a long-term extension study for an
6 additional 13 years. And key adverse events, including
7 malignancy, will be collected. These adverse events
8 will also be collected in the post-marketing registry,
9 which has a target enrollment of 150 patients. This
10 registry will be made available at all initial
11 qualified treatment centers, which will serve as the
12 only sites of treatment.

13 So, to close, the safety profile of beti-cel
14 supports a favorable benefit/risk. Bluebird remains
15 fully committed to ensuring transparent communication
16 of emerging safety issues throughout PV activities,
17 which will support the prescribers, their regulators,
18 and the industry as a whole in gaining valuable long-
19 term safety data.

20 Thank you, and I'd like to request Dr.
21 Thompson to provide a perspective on the overall

1 benefit/risk.

2

3 **APPLICANT PRESENTATION: BENEFIT-RISK**

4

5 **DR. ALEXIS THOMPSON:** Thank you, Dr. Singh.

6 Good morning. I'm Dr. Alexis Thompson. I'm the chief
7 of the Division of Hematology at the Children's
8 Hospital of Philadelphia. I received an honorarium
9 from bluebird bio for being with you today, and both my
10 institution and I have received compensation for
11 support of clinical investigations. I do not, however,
12 have any financial interest in the outcome of today's
13 meeting, but certainly, as a long-time treater of
14 patients with thalassemia and sickle cell, I have a
15 powerful personal and professional interest in being
16 here today to support the availability of a new option
17 for patients.

18 As you've heard today, there is an unmet need
19 for a potentially curative option for all patients with
20 beta-thalassemia who rely on regular transfusions. A
21 potentially curative option should allow patients to

1 stop transfusions with a normal or near-normal
2 hemoglobin. It should prevent the life-shortening
3 complications of beta-thalassemia, and it should reduce
4 the need for life-long thalassemia-specific and/or
5 transfusion-related monitoring procedures.

6 Why beti-cel? As a reminder, most thalassemia
7 patients will not have a suitable donor for an
8 allogeneic transplant and with beti-cel, the patient is
9 his or her own donor. Patients treated with beti-cel
10 can achieve transfusion-independent, have reduced iron
11 burden, and improved quality of life. Since beti-cel
12 utilizes autologous stem cells, there is no risk for
13 GVHD, and treatment with beti-cel does not require
14 depletion of the cellular product or post-transplant
15 immune suppression.

16 Betti-cel has not been associated with graft
17 failure or graft rejection, and these are both known
18 risks of allo transplants for thalassemia. Thus, beti-
19 cel could provide a potentially curative treatment
20 option for a broader population irrespective of age or
21 donor availability with a positive benefit/risk

1 profile. The clear and clinically meaningful benefit
2 of beti-cel for TDT has been demonstrated in the great
3 majority of patients across all clinical program
4 phases, all ages, and all genotypes.

5 Nearly 90 percent of patients in the Phase 3
6 trials are transfusion-independent with a median
7 weighted average hemoglobin of 11.5 grams per deciliter
8 and durable transfusion independence with an overall
9 follow-up of out to seven years. These trials have
10 demonstrated improvement in erythropoiesis, reflected
11 by the normalization in their myeloid to erythroid
12 ratios; improvement in bone marrow morphology; and also
13 improvement in markers of diserythropoiesis.

14 Nearly all patients with thalassemia
15 undergoing gene therapy or allogeneic transplant will
16 require some form of iron control to address
17 transfusional iron overload. Some patients have
18 undergone phlebotomy; others have had iron chelation
19 performed post-beti-cel infusion. Many have now been
20 able to subsequently stop iron control measures without
21 iron re-accumulation.

1 The safety profile of beti-cel is based on all
2 63 treated patients across the four clinical trials who
3 have been followed for as little as four months, but
4 out beyond seven years, providing an overall post-beti-
5 cel exposure of 221 patient-years. With few
6 exceptions, the overall safety profile is consistent
7 with known toxicities associated with mobilization with
8 plerixafor and G-CSF, and conditioning with busulfan.

9 Immunologic complications that might otherwise
10 be seen with allogeneic stem cell transplant have not
11 occurred with beti-cel. And there have been no vector-
12 derived replication component lentivirus or lentiviral
13 vector-mediated insertional events observed in patients
14 thus far.

15 When I have conversations with my patients and
16 their families, we discuss a number of considerations.
17 We talk about the benefits of achieving life-long
18 transfusion independence with a normal or a near-normal
19 hemoglobin following beti-cel therapy. Based on the
20 overall experience to date, I can confidently tell
21 families that they should be able to discontinue

1 chelation therapy. It's also important to review
2 risks, such as insertional oncogenesis and malignancy,
3 as well as infertility due to myeloablative
4 conditioning.

5 Delayed platelet engraftment without serious
6 bleeding has been observed and will be discussed.
7 Long-term follow-up will be encouraged through the drug
8 product registry, which will allow us to modify our
9 conversation and considerations for families over time.

10 I want to share with you two examples from my
11 own patient cohort who have undergone beti-cel therapy.
12 Starting with my very first patient, who, as a high
13 school senior turning 18 years of age, elected to
14 participate in this clinical trial. She started
15 transfusions somewhat later after developing growth
16 delay and early bony changes.

17 She had siblings but did not have a suitable
18 HLA match for an allogeneic transplant. Her parents
19 certainly had the expectation that she would be frail
20 and dependent, not only on the healthcare system but
21 also dependent upon them. My patient, however, really

1 wanted the freedom to make choices as to where she
2 attended school and control of her future. She has now
3 been transfusion-independent for over seven years.
4 She's been able to attend the college of her choice out
5 of state and is currently completing a PhD in
6 biomedical engineering.

7 I think most gratifying for her and her
8 parents was now being able to travel internationally,
9 including, for the first time, to visit her parents'
10 home country.

11 Another example of the benefits is one of my
12 Phase 3 trial patients, who was a four-year-old with
13 homozygous beta-zero-beta-zero or the most severe form,
14 who was diagnosed by newborn screening, and who began
15 chronic transfusions in a planned manner.

16 Having been diagnosed by newborn screening,
17 which I would argue is the way most children who are
18 born with this condition in the United States should be
19 diagnosed, his parents almost immediately inquired
20 about curative options and went forward with pre-
21 implantation genetic diagnosis with in vitro

1 fertilization to hopefully achieve a potential donor
2 match for their son. This ultimately led to the
3 conception of his now healthy sibling, who
4 unfortunately was not an HLA match.

5 This little boy underwent beti-cel therapy and
6 received his last red cell transfusion about 30 days
7 following beti-cel infusion. He continues to do quite
8 well. At six months, his hemoglobin was 10.5, 9.5 of
9 which was hemoglobin AT87Q. His most recent values at
10 Month 12 are a total hemoglobin of 11.4 grams per
11 deciliter, of which 10.4 is hemoglobin T87Q. He has
12 now completed kindergarten via Zoom, which he thought
13 was quite odd, but apparently is enjoying the first
14 grade in person. Clearly, his family could not ask
15 for, at least in the near term, a more gratifying and
16 hopeful outcome for beti-cel treatment.

17 So, in summary, I believe that the
18 presentations today are persuasive in that beti-cel can
19 potentially cure patients with beta-thalassemia who
20 require regular transfusions. And that beti-cel has
21 the potential to cure patients across a broad range of

1 ages, genotypes, genders, race, and ethnicities.

2 This can be achieved by increasing a
3 functional hemoglobin-A and achieving a total
4 hemoglobin that is normal or near normal eliminating
5 the dependence on chronic transfusions for nearly all
6 patients. The risks and benefits to efficacy are clear
7 with an acceptable safety profile for patients with
8 beta-thalassemia.

9 Thank you. I will now return to Dr. Colvin.
10 Dr. Butterfield, I apologize. I think I'm handing the
11 mic off to you.

12

13 **FDA PRESENTATION: BETIBEGLOGENE AUTOTEMCEL (BETI-CEL) :**

14 **BLA 125717 CLINICAL CONSIDERATIONS FOR EFFICACY AND**
15 **SPECIFIC SAFETY IN TRANSFUSION-DEPENDENT B-THALASSEMIA**

16

17 **DR. LISA BUTTERFIELD:** Yes, thank you very
18 much. All right, really appreciate all of the
19 information shared by all of the bluebird bio speakers.
20 And so now we'll move to the FDA presentation. And
21 we'll have a Q&A session after the FDA presentation for

1 everyone. I'd like to introduce Dr. Karl Kasamon from
2 OTAT.

3 **DR. KARL KASAMON:** I'm sorry, Mike. I was
4 expecting that the notes would be also available to the
5 right of the screen.

6 **MR. MICHAEL KAWCZYNSKI:** Sure, here you go.

7 There you go. Let me just make sure, Karl. You should
8 have it. Yep, you have advanced rights. I'll do it
9 again.

10 **DR. KARL KASAMON:** It's blank.

11 **MR. MICHAEL KAWCZYNSKI:** Yes, because you
12 don't have any notes on that slide, sir. If you want
13 me to load another slide deck in, but, go ahead, sir.
14 This slide deck doesn't have any notes in it.

15 **DR. KARL KASAMON:** Okay.

16 **MR. MICHAEL KAWCZYNSKI:** I can reload another
17 one, but that one that we have doesn't have any in it.

18 **DR. KARL KASAMON:** I'm sorry about this. Let
19 me try to read off my other screen with the notes. I
20 apologize for this.

21 Good morning. I'm Karl Kasamon. I'm a

1 hematologist and a clinical reviewer at the Office of
2 Tissues and Advanced Therapies within CBER at the FDA.

3 On behalf of CBER, as well as the AC planning
4 working group, I'll be presenting information from BLA
5 125717 regarding efficacy and safety of betibeglogene
6 autotemcel, or beti-cel, for the proposed indication,
7 which is treatment of patients with beta-thalassemia
8 who require regular red blood cell transfusions.

9 I'd like to start with some basic information
10 about the disease for which beti-cel's being proposed,
11 then to describe studies which were reviewed in support
12 of the effectiveness and safety of the product and
13 summarize study results. Finally, I will close with
14 uncertainties that emerged from the FDA's review. My
15 overall goal is to describe our safety concerns and
16 seek input regarding benefit/risk analysis.

17 Betti-cel is being developed for the treatment
18 of beta-thalassemia. This is a group of rare
19 hemoglobinopathies caused by beta-globin gene mutations
20 which impair production of beta-globin. And in the
21 severe phenotypes, it is characterized by severe anemia

1 with life-long transfusion-dependence leading to iron
2 overload and causing life-threatening morbidities such
3 as endocrinopathies, cirrhosis, and cardiomyopathy.

4 These morbidities lead to decreased survival.

5 The phenotype of transfusion-dependent thalassemia, or
6 TDT, is the most severe form. And without red cell
7 transfusions, mortality may be as high as 80 percent by
8 age five.

9 Currently, the treatment for TDT, or
10 transfusion-dependent thalassemia, remains supportive
11 and consists of regular red cell transfusions and
12 chronic iron chelation. Luspatercept is a red cell
13 maturation agent and has been approved in adults and
14 may help to reduce transfusion burden.

15 Allogeneic hemopoietic stem cell
16 transplantation may be considered a standard of care
17 for some of the young cohort and may lead to over 85
18 percent of disease-free survival in children and about
19 65 percent in adults. Unfortunately, fewer than a
20 quarter of patients have the human leucocyte antigen,
21 or HLA-matched sibling donor available. Therefore, the

1 transfusion-dependent thalassemia treatment constitutes
2 an unmet medical need.

3 Now I'd like to briefly tell you about the
4 product, beti-cel. Betti-cel is comprised of autologous
5 hemopoietic stem cells that have been transfused with a
6 BB305 lentiviral vector, encoding the beta A-T87Q-
7 globin. And because it is a variant beta-globin, beta
8 A-T87Q binds to alpha-globin chains and can
9 reconstitute production of stable functional adult
10 hemoglobin and red cells. The ultimate goal of the
11 therapy is to enhance the production of erythrocytes
12 and potentially lead to transfusion independence.

13 Next, I'd like to give you an overview of the
14 studies from which the beti-cel data were obtained.
15 The FDA analysis included supportive safety data from
16 Study HGB-204, an early Phase 1/2 study, that was a
17 single-arm, open-label study. And it was completed in
18 2018. It enrolled 19 subjects and treated 18. They
19 received a single dose of three times ten to the sixth
20 CD34 positive cells per kilogram of an earlier
21 generation product. The subjects are between ages 12

1 and 35, and the study's primary objective was safety
2 and efficacy.

3 The primary evidence of efficacy and safety
4 came from a pair of Phase 3 studies, HGB-207 and HGB-
5 212, with overall parallel designs. Both of these are
6 also single-arm, open-label, multi-national studies
7 which share the primary objective to evaluate efficacy
8 and safety of beti-cel. Notably, HGB-207 enrolled only
9 those with non-beta-zero-beta-zero genotype, whereas
10 HGB-212 enrolled those who had the beta-zero-beta-zero
11 genotype.

12 In addition, HGB-207 prospectively divided the
13 subjects into two cohorts, one being for those aged 12
14 to 50 and the second for pediatric subjects who are
15 less than 12 years of age. Because these Phase 3
16 studies are still ongoing, the data originated from an
17 interim analysis with a data log date in March of 2021.
18 All subjects completing 24 months of follow-up in these
19 mentioned studies were to then enroll in a long-term
20 safety follow-up study called LTF-303 and undergo a
21 total of 15 years of additional safety following the

1 infusion of beti-cel.

2 The next couple of slides will provide
3 additional details of these Phase 3 studies. So to
4 expand on the design of the Phase 3 studies, each
5 consisted of four stages. First, the subjects were
6 screened with a careful documentation of transfusion
7 needs, hospitalizations, laboratory, and chelation
8 history. Then, the subjects underwent stem cell
9 mobilization and apheresis. After which they received
10 myeloablative chemotherapy and then beti-cel infusion.
11 And, finally, they were followed for 24 months.

12 Both Phase 3 studies share the following
13 inclusion criteria. All participants had to be aged 50
14 or below and needed to have a diagnosis of transfusion-
15 dependent beta-thalassemia with a documented history of
16 at least 100 milliliters per kilogram per year of red
17 cells transfused over a two-year period that precedes
18 enrollment. Alternatively, those subjects who are at
19 least 12 years of age could be managed under a standard
20 thalassemia guideline and have received at least eight
21 transfusions per year in a two-year period.

1 Now I will shift to the genotype eligibility
2 criteria. So, because HGB-207 enrolled those with non-
3 beta-zero-beta-zero thalassemia, the beta-zero mutation
4 on both human beta-globin gene alleles was
5 exclusionary. And conversely, HGB-212 enrolled only
6 subjects with beta-zero-beta-zero, thus any mutation
7 other than beta-zero with these alleles was
8 exclusionary. Of note, after amendment five of the
9 protocol, subjects who had a functionally equally
10 severe mutation called IVSII10 were included in Study
11 HGB-212 as this mutation is considered equivalent to
12 beta-zero with nearly negligible beta production.

13 Subjects from the Phase 3 studies would be
14 excluded if they were found to have any of the criteria
15 you see in this slide, such as chronic viral
16 infections, active infectious diseases, cytopenias,
17 history of cancer, or organ impairment.

18 Now I would like to move on to efficacy
19 endpoints. Because Phase 3 studies had a primary
20 efficacy endpoint that focused on the clinical benefit
21 of transfusion independence, which was defined as

1 maintaining a weighted average hemoglobin concentration
2 of at least nine grams per deciliter without any red
3 cell transfusions over a period of at least 12 months
4 at any time in the study following beti-cel infusion,
5 the time period in addition had to start no sooner than
6 60 days from the last post-transplant red cell
7 transfusion.

8 The study's secondary endpoints evaluated
9 additional features of transfusion independence as well
10 as transfusion reduction compared to baseline. And
11 they also included endpoints related to iron overload
12 and quality of life. The safety assessments of the
13 study focused on parameters such as hemopoietic stem
14 cell engraftment, transplant-related mortality, overall
15 survival, clinical adverse events, laboratory
16 parameters, as well as insertional oncogenesis.

17 And at this time, I'd like to move on to study
18 results. This slide outlines disposition of the Phase
19 3 study subjects. Of the 51 who gave assent or consent
20 to participate, 5 failed screening, and 3 withdrew
21 their consent prior to starting mobilization. Then,

1 one subject from each Phase 3 study discontinued
2 following mobilization. A total of 41 subjects,
3 therefore, underwent conditioning and infusion of beti-
4 cel with 23 from HGB-207 and 18 from HGB-212.

5 The Phase 3 study demographic information is
6 presented in this slide. The efficacy analysis
7 population again was made up of 41 subjects who were
8 infused with beti-cel. Key points I would like to
9 highlight include that overall the participants were
10 very young, with median ages of 12.5 and 15. The
11 numbers of subjects in various age categories were
12 protocol-specified. And both studies enrolled the same
13 number of pediatric subjects less than 12 years of age
14 with an N of eight.

15 Similarly, the proportions of genotypes non-
16 beta-zero-beta-zero versus beta-zero-beta-zero were
17 directed by protocol. And lastly, the participants
18 were well-balanced by sex.

19 Briefly, I'd like to point out some of the key
20 baseline thalassemia-related characteristics of the
21 efficacy analysis population. With respect to

1 genotype, the most common was beta-zero beta-plus in
2 the pooled population followed by beta-zero and beta-
3 plus-beta-plus. Subjects in either study had similar
4 baseline transfusion requirements and were transfusion-
5 dependent with a median annualized retro transfused
6 volume of 198 milliliters per kilogram per year. The
7 subjects had a similar baseline weighted average in
8 nadir hemoglobin of 9.6 grams per deciliter.

9 I will now present the summary of the primary
10 efficacy analysis. Because the Phase 3 studies are
11 ongoing, not all 41 subjects who received beti-cel have
12 had sufficient duration of follow-up before the time of
13 data log. So 36 of the 41 are evaluable for
14 transfusion independence, and the remaining 5 are not.
15 This table presents the percentages of subjects
16 achieving transfusion independence per each study
17 cohort and each study, as well as a total Phase 3
18 population.

19 You'll notice 93 percent of the 12 years old
20 and above, Cohort 1, in Study HGB-207 achieved
21 transfusion independence, whereas 86 percent of Cohort

1 2 subjects at the pediatric less than 12 years old
2 received transfusion independence, which was the same
3 percentage as the total Study HGB-212, and the pooled
4 Phase 3 total was 89 percent. Below the percentage of
5 subjects with transfusion independence, you'll find
6 listed that two cited 95 percent confidence intervals.
7 For each study and each cohort, the prespecified
8 success criteria were met.

9 Not shown here, transfusion independence
10 outcomes did not differ substantially by genotype, nor
11 by age less than 18 versus 18 years of age and above.
12 But males did have a somewhat higher transfusion
13 independence rate compared to female subjects. And,
14 lastly, four subjects, two from each study, failed to
15 achieve transfusion independence.

16 The secondary efficacy endpoints, which are
17 listed here, provide additional information about
18 duration and quality of transfusion independence. In
19 summary, once they achieved transfusion independence,
20 the subjects remained free of transfusion needs. The
21 duration of transfusion independence was a median of 26

1 months ranging to a maximum of 39 months as of the time
2 of data log. And the subjects achieving transfusion
3 independence were able to sustain a level of hemoglobin
4 of 11.5 grams per deciliter, which meets or exceeds
5 their baseline hemoglobin values. The median time from
6 beti-cel administration to the last needed transfusion
7 after treatment with beti-cel was less than one month.

8 Other secondary efficacy endpoints looked at
9 hepatic and cardiac iron burden using magnetic
10 resonance imaging techniques. And not shown here,
11 overall, the liver and cardiac iron burden parameters
12 at first tended to worsen between baseline and Month
13 12, and then reverse and started trending to baseline
14 by Month 24.

15 Here I'd like to reiterate the overall
16 efficacy results of beti-cel in the subjects with
17 transfusion-dependent thalassemia. Betti-cel treatment
18 was associated with a transfusion independence in 89
19 percent of the Phase 3 study subjects. And they had a
20 median duration of transfusion independence of 26
21 months with a range of 13 to 39 months at the time of

1 data log.

2 At this point, I would like to turn our
3 attention to the safety of beti-cel. The FDA's safety
4 analysis was performed on data originating from Phase
5 1/2 Study HGB-204, which provided supportive safety
6 data and, again, included 18 subjects in addition to
7 the two Phase 3 Studies HGB-207 and HGB-212, where 41
8 subjects were treated with beti-cel. And, thus, it
9 gave a total safety population of 59 subjects, and
10 these were followed for a median of 2.5 years with a
11 range of up to 7.

12 As you'll find presented in this slide, the
13 subjects had comparable exposure to busulfan
14 myeloablation and were then infused with comparable
15 doses of beti-cel. Although, Study HGB-204 subjects
16 were treated with an earlier generation product, and
17 thus, the viral vector copy number in the infused dose
18 was lower compared to the Phase 3 studies.

19 Here I would like to present an overview of
20 the adverse events. This graph depicts the number of
21 subjects and percentage of the most frequent adverse

1 events, or AEs, reported in the 59 beti-cel recipients
2 between Day 1 and Month 24. The list includes AEs
3 reported by 40 percent or more of subjects arranged by
4 descending order by frequency. Laboratory-based
5 adverse events were analyzed using shift table
6 analysis.

7 I'd like to point out that, because beti-cel
8 is infused shortly after myeloablative chemotherapy,
9 the observed adverse events included myelosuppression,
10 and, as shown in the top of the graph, cytopenias were
11 universal. Also very prevalent were gastrointestinal
12 adverse events, which included emesis and mucositis.
13 Febrile neutropenia was likewise common, experienced by
14 54 percent of the subjects, although severe grade
15 infections were not. Four subjects, which is 6.8
16 percent, had an AE of sepsis.

17 Now I'd like to shift your attention to the
18 serious adverse events, or SAEs. A total of 25
19 subjects experienced 55 SAEs between Days 1 and the
20 last follow-up. This table shows the most common SAEs,
21 listing only those that were observed in five percent

1 or more of the subjects. And the rightmost column
2 suggests that the majority of the SAEs, except for
3 fever, tended to be of high grade.

4 Most SAEs, such as cytopenias, infections, and
5 liver veno occlusive disease are associated with
6 busulfan myeloablation, and these were attributed to
7 study interventions other than beti-cel. The FDA
8 attributed three thrombotic events to previous dosing
9 factors, such as indwelling catheter and concomitant
10 medications.

11 There were two SAEs related to
12 thrombocytopenia that we attributed to beti-cel. One
13 was a serious adverse event of Grade 4 thrombocytopenia
14 that triggered a clinical severe epistaxis requiring
15 hospitalization at Day 69 and occurred in the context
16 of delayed platelet engraftment thus was attributed to
17 beti-cel. In addition, there was one SAE of Grade 3
18 thrombocytopenia from Day 114 through 163.

19 The remainder of the safety section will
20 concentrate on the FDA safety concerns. These were a
21 special focus of the review because of the potential

1 safety signal consisting of prolonged thrombocytopenia,
2 observation of cases of abnormal bone marrow morphology
3 in some subjects with thalassemia, along with
4 hematologic malignancies and insertional oncogenesis
5 that were noted in subjects treated with other products
6 manufactured by the applicant using related or
7 identical lentiviral vectors for other diseases.

8 First, I'd like to turn your attention to
9 cytopenias and engraftment. This slide looks at
10 neutrophil engraftment after beti-cel administration.
11 Subjects getting myeloablative chemotherapy are
12 expected to develop severe cytopenias, including
13 neutropenia. And then they undergo reconstitution of
14 hemopoiesis and recover.

15 Many dynamics, including the use of growth
16 factors, can affect the time to hemopoietic recovery.
17 For example, granulocyte colony-stimulating factor, or
18 G-CSF, which is an exogenous pharmaceutical agent,
19 which raises neutrophil counts, can be used to hasten
20 neutrophil engraftment. Per protocol, neutrophil
21 engraftment was defined as the sustained neutrophil

1 count of 0.5 times 10 to the 9th per liter on three
2 consecutive days within 42 days of beti-cel
3 administration.

4 And conversely, failure of neutrophil
5 engraftment was determined if neutrophil engraftment
6 did not occur by day 42. The applicant reported
7 neutrophil engraftment by median day of 23 with a range
8 of 13 to 39. Therefore, all subjects appeared to
9 achieve neutrophil engraftment. But there is a caveat,
10 which is that G-CSF was used by 52 percent of the
11 subjects after beti-cel infusion, and, more
12 importantly, 17 percent of them were requiring
13 continuous G-CSF for at least one week beyond the point
14 at which neutrophil engraftment was determined by the
15 applicant. However, G-CSF use can confound
16 determination of true time to neutrophil engraftment.

17 So, given this potential confounding from G-
18 CSF, the FDA performed additional analysis evaluating
19 time to neutrophil engraftment once subjects were no
20 longer receiving G-CSF. And this analysis revealed a
21 median Day 25 with a range of 13 through 77 to reach

1 neutrophil engraftment.

2 Two subjects continued to require G-CSF beyond
3 Day 42, thus raising the question of neutrophil
4 engraftment failure. And overall, these results
5 suggest a degree of delay of neutrophil engraftment
6 following beti-cel when compared with allogeneic
7 transplant for beta-thalassemia where the median day to
8 neutrophil engraftment is reported to range between
9 Days 9 and Day 21.

10 Even more concerning was delayed
11 reconstitution of platelets following beti-cel. Per
12 protocol, platelet engraftment was defined as three
13 consecutive platelet values of 20 times 10 to the 9th
14 per liter, barring any platelet transfusions within the
15 preceding seven days.

16 Betti-cel recipients achieved platelet
17 engraftment at a median Day 46 ranging between Days 19
18 and 191. This is notably delayed compared with
19 allogeneic transplant for beta-thalassemia where, as
20 was shown before, the platelet engraftment is generally
21 reported by approximately Day 25.

1 The time to platelet engraftment is depicted
2 here in this histogram with subjects clustering around
3 Day 40 to 50, except for outliers. The one subject on
4 the right side of the graph achieved platelet
5 engraftment only by Day 191, and as will be further
6 mentioned, this subject met criteria for lentiviral
7 vector oligoclonality and had a lentiviral integration
8 into a proto-oncogene.

9 So as mentioned earlier, in order to achieve
10 platelet engraftment, it's only necessary to reach a
11 sustained platelet count of 20 times 10 to the 9th per
12 liter, which is clinically still Grade 4 or severe
13 grade thrombocytopenia. But beti-cel treated subjects
14 did continue to experience slow platelet reconstitution
15 beyond 20 times 10 to the 9th per liter, for example,
16 to a platelet count of 100 times 10 to the 9th per
17 liter. The data analysis showed that, to reach a
18 sustained platelet count of at least 100 times 10 to
19 the 9th per liter for three consecutive measurements
20 without platelet transfusion, beti-cel-treated subjects
21 required a median of 86 days with a range of up to 891

1 days.

2 And, to consider another way of looking at
3 this slow platelet recovery, even at 80 days following
4 beti-cel infusion, 17 percent of the subjects still
5 continued to experience Grade 3 or Grade 4
6 thrombocytopenia. Another reminder, Grade 3
7 thrombocytopenia is 25 to less than 50, and Grade 4 is
8 less than 25 times 10 to the 9th per liter of
9 platelets.

10 Lastly, the platelet recovery after beti-cel
11 was apparently incomplete even as late as Month 24.
12 This graph shows the mean platelet values of beti-cel
13 treated subjects. If you look at the leftmost bars of
14 the graph, it demonstrates baseline platelet values,
15 and you'll note that there are approximately 320 to 420
16 times to the 9th per liter in the three study
17 populations. The timepoints to the right show recovery
18 at Months 6, 12, 18, and 24 post-beti-cel. If we now
19 focus on the rightmost bars, the mean platelet values
20 at Month 24 are approximately 210 to 300 times 10 to
21 the 9th per liter of platelets.

1 And even though these mean platelet values are
2 well in the normal range, they remain notably lower
3 than they had been at baseline. The cause of this
4 apparent decrease in platelets post-beti-cel remains
5 unknown. But lentiviral integration and gene
6 transduction within hemopoietic stem cells is a
7 possible mechanism. And it is unknown how this bodes
8 for potential development of MDS in the future.

9 Serial bone marrow biopsies were collected on
10 the Phase 3 Study subjects in order to assess evolution
11 of dyserythropoiesis after beti-cel. And, considering
12 the impaired and incomplete platelet reconstitution
13 observed at beti-cel, independent review of the bone
14 marrow samples was performed and will be discussed.

15 So here I will summarize some of the bone
16 marrow morphology abnormalities that were observed in
17 the study subjects. As an exploratory efficacy
18 endpoint, all Phase 3 Study subjects underwent marrow
19 biopsy at baseline Month 12 and Month 24, which was
20 aimed to evaluate if beti-cel treatment could lead to
21 improvement in the thalassemia-related marrow changes

1 or dyserythropoiesis.

2 And the data are limited because the studies

3 were not clinically intended to evaluate cytopenias,

4 and the pathologists were unable to order ancillary

5 molecular cytogenetic or other studies on the samples.

6 There were baseline morphological abnormalities, which

7 were present among several subjects and which were

8 likely due to their thalassemia. These included

9 limited percentages of ring sideroblasts as well as

10 some dysmegakaryopoietic changes.

11 Among four of the subjects, ring sideroblasts

12 were only reported in the post-beti-cel marrow samples,

13 but it was not possible to determine and evaluate the

14 baseline status of this finding due to lack of

15 appropriate sample stains at baseline. Furthermore, in

16 one subject, the pathologist reported emergent

17 monolobated megakaryocytes at the Month 12 marrow

18 sample, but then the subject declined follow-up bone

19 marrows for further evaluation.

20 Overall, the presence of abnormalities such as

21 ring sideroblasts and dysmegakaryopoietic changes

1 present both in baseline as well as in post-beti-cel
2 marrow samples may impair detection of emergent
3 pathology.

4 I'd like to now switch and discuss potential
5 risks of lentiviral integration. Lentiviral vector
6 gene therapy carries a risk of insertional oncogenesis
7 due to the potential for integration into host genome
8 during transduction. Consequently, all subjects
9 treated with beti-cel are being screened with
10 integration site, or ISA, analysis in the peripheral
11 blood, which reports the relative frequency of
12 integration sites.

13 If this testing were to reveal abnormal
14 relative frequency, or abnormal integration patterns,
15 then the subjects would undergo additional analysis
16 using quantitative polymerase chain reaction with
17 specific integration site primers to evaluate if there
18 are any clones that meet the criteria for clonal
19 predominance or oligoclonality.

20 The definitions of clonal predominance and
21 oligoclonality are listed at the bottom of this slide.

1 I'll just point out that the proposed definition of
2 oligoclonality is having an integration site with a
3 relative frequency of at least ten percent. And a
4 vector copy number of at least 0.1 copies per deployed
5 genome.

6 I would like to now briefly summarize
7 integration site analysis findings. So, among the 59
8 beti-cel recipients who were analyzed, no cases of
9 malignancy or clonal predominance were reported to
10 date.

11 However, I wanted to focus on three subjects
12 who did meet the oligoclonality definition. One of
13 these subjects has expansion of a clone with
14 integrations into proto-oncogene XP07 and CBFB, and
15 this subject had notable thrombocytopenia with
16 profoundly delayed platelet engraftment only at Day
17 191. The subject's platelet counts did not reach 100
18 times 10 to the 9th per liter as of Day 737 post-
19 treatment.

20 There was another subject with integration
21 site relative frequency patterns that was suggestive of

1 a clone with multiple integration sites. And one of
2 these integration sites was into the proto-oncogene
3 BCR. This subject likewise experienced prolonged
4 thrombocytopenia with platelet counts not reaching 100
5 times 10 to the 9th per liter until after Day 501.

6 There was a third subject who also met the
7 criteria for oligoclonality only at the most recent lab
8 visit. And this one had integration into a gene called
9 MAP4K2, which is involved in single transduction
10 pathway. This subject had unremarkable platelet
11 recovery.

12 So the oligoclonal lentiviral integration
13 experienced by these subjects, the location of the
14 lentiviral integrations into proto-oncogenes in two of
15 them, as well as their association with prolonged
16 thrombocytopenia were of concern.

17 The FDA found frequent lentiviral vector
18 integrations into other genes, which were notable. For
19 example, 56 percent of subjects treated with beti-cel
20 were found to have lentiviral vector integrations into
21 a gene called VAMP4. While VAMP4 integration does not

1 appear to correlate with the late platelet
2 reconstitution, integrations into VAMP4 concern the FDA
3 because VAMP4 integrations were also discovered in a
4 predominant clone within leukemic blast cells of one
5 subject treated with a product for sickle cell that was
6 manufactured by the applicant using an identical
7 lentiviral vector as used in beti-cel. And this will
8 be further discussed in the next slide.

9 At this time, I would like to introduce a
10 lentiviral vector product called lovo-cel, which is
11 being developed by the applicant for treatment of
12 sickle cell disease. Lovo-cel shares the same
13 lentiviral vector structure as well as gene payload as
14 beti-cel, although there are some manufacturing
15 differences.

16 Acute myeloid leukemia has been reported after
17 lovo-cel treatment in 2 out of 49 subjects treated with
18 lovo-cel for sickle cell disease. One of these
19 subjects had leukemic blast cells that contained
20 prominent integrations into the VAMP4 gene, although
21 the causal role of VAMP4 gene integration in the AML

1 has not been proven.

2 At this time, I'd like to also highlight
3 additional cases that we found worrisome for MDS, which
4 were reported after lovo-cel therapy. So two other
5 subjects with sickle cell disease that were treated
6 with lovo-cel developed anemia and underwent bone
7 marrow biopsy for evaluation. MDS was diagnosed in one
8 subject based on the marrow morphology along with
9 cytogenic aberrancy of trisomy 8 and tetrasomy 8
10 detected by fluorescent in situ hybridization, or FISH.
11 The diagnosis of MDS was later changed to transfusion-
12 dependent anemia after another marrow test was negative
13 for trisomy 8 and showed some improvement in
14 myelopoiesis.

15 A second subject with sickle cell disease and
16 anemia underwent bone marrow evaluation and was found
17 to have erythroid dysplasia with persistent trisomy 8
18 and tetrasomy 8, which were also worrisome for MDS, but
19 the workup is still ongoing because of concurrent
20 vitamin B12 deficiency.

21 The potential role of lentiviral vector in

1 these cases has not been proven, but the FDA is
2 concerned about the reported cytogenic abnormality, or
3 trisomy 8, because this has been associated with
4 hematologic malignancies. I'd like to now discuss
5 another lentiviral vector product with integrational
6 oncogenesis concerns.

7 The applicant is developing a third lentiviral
8 vector product called eli-cel for a rare disease called
9 cerebral adrenoleukodystrophy. Eli-cel is a lentiviral
10 vector product, which is manufactured using a
11 lentiviral vector that is related to beti-cel.

12 Eli-cel has been given to 67 pediatric
13 subjects, and two eli-cel recipients then developed MDS
14 with a predominant clone containing lentiviral vector
15 integrations into an oncogene called MECOM with EVI1
16 overexpression. A third eli-cel recipient with MDS has
17 lentiviral vector integration into a genetic variant of
18 MECOM called PRDM16.

19 In addition to these three diagnosed MDS cases
20 with predominant clonal extension containing lentiviral
21 vectors into either MECOM or other oncogenes, there

1 were at least four other cases where the FDA has had
2 concern about possibly evolving insertional
3 oncogenesis. These subjects have integration sites
4 with increasing relative frequency into proto-
5 oncogenes. For example, all have had MECOM
6 integrations. One of these subjects appeared to have
7 delayed platelet engraftment and has required
8 administration of an agent like eltrombopag, a
9 thrombopoietin agonist, to elevate the platelet counts.

10 In summary, the overall safety profile of
11 beti-cel is largely as expected with autologous
12 hemopoietic stem cell transplant. There was a
13 prevalent delay in platelet engraftment, and this was
14 associated with an apparently incomplete return of
15 platelets to baseline and potentially emergent bone
16 marrow abnormalities in at least some subjects.

17 While no subjects were reported to have clonal
18 predominance or insertional oncogenesis after beti-cel
19 treatment, one subject who received a product
20 manufactured with an identical lentiviral vector for
21 sickle cell disease developed AML with VAMP4 lentiviral

1 integrations, and two others were observed to have
2 cytogenetic abnormalities and anemia. One of them they
3 know of will become transfusion-dependent after
4 treatment.

5 Lastly, among subjects receiving lentiviral
6 vector-based eli-cel product for CALD, there are three
7 cases of MDS reported with integration into proto-
8 oncogenes and clonal expansion plus some additional
9 subjects with clonal expansion and cytopenias.

10 The FDA has not drawn definitive conclusions
11 with respect to the role that lentiviral vector
12 integrations may play in the development of platelet
13 engraftment problems experienced by platelets treated
14 with beti-cel. However, hematologic malignancies
15 observed after treatment with lentiviral vector
16 products for sickle cell disease and CALD do increase
17 our concern that the abnormal platelet reconstitution
18 may progress to MDS.

19 We're now reaching the conclusion of this
20 presentation. Eighty-nine percent of the Phase 3 study
21 subjects achieved transfusion independence, and,

1 regardless of age and genotype, this clinical outcome
2 appears durable through approximately 39 months of
3 follow-up. The safety profile of beti-cel is largely
4 consistent with known effects of busulfan myeloablation
5 that precedes beti-cel administration. But beti-cel is
6 also associated with prevalent delay in platelet
7 engraftment and prolonged thrombocytopenia.

8 Though no clonal predominance due to
9 lentiviral integration or lentiviral vector-mediated
10 oncogenesis has been reported in beti-cel-treated
11 patients, AML and MDS have been reported with the
12 applicant's products manufactured with identical or
13 related lentiviral vectors in subjects with sickle cell
14 disease or CALD respectively.

15 Therefore, the slow platelet recovery with
16 some marrow morphological abnormalities in subjects
17 with beta-thalassemia and the hematologic malignancies
18 reported in studies with other lentiviral vector-based
19 products make it challenging to assess benefit/risk of
20 beti-cel. And thus, we are looking forward to the
21 Committee's discussion regarding benefit/risk of beti-

1 cel for the treatment of patients with transfusion-
2 dependent thalassemia.

3 I am now happy to address any questions that
4 the Committee may have.

5

6 **CLARIFYING QUESTIONS TO PRESENTERS**

7

8 **DR. LISA BUTTERFIELD:** Terrific. Thank you
9 very much. We appreciate the perspectives of the FDA
10 and your review of all of these data.

11 So we now have time for clarifying questions
12 from the Committee. So I'm going to adjust my screen
13 and watch for those raised hands. Terrific, I see a
14 lot of raised hands, and so we're going to start with
15 Dr. Coffin, Dr. DiPersio, and Dr. M., and then we'll
16 from there. So, please, Dr. Coffin.

17 **DR. JOHN COFFIN:** Yes, thank you for those
18 interesting, informative presentations. A question
19 regarding the apparent frequent oligoclonality in the
20 VAMP4, is there any indication from the orientation or
21 location of the integration sites within the gene that

1 these are indeed likely to be affecting gene
2 expressions (inaudible) from one individual to another?

3 **DR. RICHARD COLVIN:** Yeah, so, with regard to
4 VAMP4, yes, we have frequent integrations into VAMP4,
5 but there's no oligoclonality into VAMP4. All of those
6 insertion sites are actually at a very, very low
7 relative frequency to other insertion sites in the
8 patients with beti-cel treatment. I'll ask Dr. Bonner
9 to comment further on your second question.

10 **DR. MELISSA BONNER:** Thank you, my name is
11 Melissa Bonner. I lead the research team here at
12 bluebird bio. To reiterate what Dr. Colvin just said
13 with respect to VAMP4, there is no oligoclonality with
14 the exception of the one patient who had AML in 2021.
15 And in that particular patient, the transgene was in
16 the same orientation as VAMP4 as we did detect fusion
17 transcripts. But by and large, from the remaining
18 instances of detecting a single insertion in VAMP4
19 across many patients, it is heterogeneous in terms of
20 the orientation.

21 **DR. JOHN COFFIN:** VAMP4 is a fairly poor

1 target in vitro in the experiments that we've been
2 looking at, so it would suggest there has been some
3 selection for it. But that selection need not be
4 oncogenic even if it could happen (audio skip) or some
5 other (inaudible) feature.

6 One other question, in the cases where there
7 is apparent oligoclonality, have the cell types
8 involved been analyzed?

9 **DR. RICHARD COLVIN:** So you point out that
10 there are two patients that we showed that have
11 oligoclonality, and I'm going to ask Dr. Coleman
12 Lindsley to come up and talk about the integration
13 sites that these two patients have. What I will point
14 out first is that, first of all, the oligoclonality has
15 been stable over a number of years. And, secondly,
16 these patients are clinically stable.

17 And part of the change you see in the two --
18 can you bring up Slide number 2, please -- in these two
19 patients is that previously there was a different
20 method for measuring insertion site analysis, which was
21 in the gray shaded area. That was by LAN PCR. In the

1 not shaded area where they've remained stable as well,
2 that is when it was done by SEPTS. Dr. Lindsley?

3 **DR. COLEMAN LINDSLEY:** Hello. I'm Dr. Coleman
4 Lindsley. I'm the director of clinical genomics and
5 hematologic malignancies at Dana Farber Cancer
6 Institute. In the patient in the right, the two
7 insertion sites in XPO7 and CBFB merit further
8 discussion. XPO7 has not been found to be recurrently
9 mutated or genetically altered in hematologic
10 malignancies or in AML. There are conflicting data,
11 laboratory-based data regarding its potential role as
12 either an oncogene or a tumor suppressor.

13 CBFB is a partner in a recurrent translocation
14 implicated in AML. Its oncogenic activity, unlike
15 MECOM rearrangements, where the oncogenic activity is
16 related to overexpression of EVI1, is dependent on its
17 fusion partner, which is the smooth muscle myosin-heavy
18 chain which mediates polymerization, aberrant cellular
19 localization, and altered core finding factor
20 transcriptional activity.

21 **DR. JOHN COFFIN:** My question really was, has

1 any cell sorting been done to see what cells these are
2 in?

3 **DR. RICHARD COLVIN:** No, these are just in
4 preferred blood mononuclear cells. I would like to
5 point out something too as well. So when you look at
6 the insertion site analysis between our different
7 programs, the insertion sites that are seen in lovo-cel
8 and beti-cel treated patients are very similar. Those
9 that are in eli-cel are very different range of
10 insertion sites, suggesting that the different vectors
11 insert differently.

12 **DR. JOHN COFFIN:** That seems very unlikely. I
13 would argue actually the more suggestive may be
14 different selectors than features going on following a
15 transplant. Our experiences are that these patterns
16 are very consistent even among very different cell
17 types. As the original integration has said.

18 **DR. LISA BUTTERFIELD:** Any other questions,
19 Dr. Coffin? Or shall we move on?

20 **DR. JOHN COFFIN:** Yeah, I'm done.

21 **DR. LISA BUTTERFIELD:** Thank you very much.

1 Dr. DiPersio, then Dr. M., Ott, Gordeuk, Shah, and
2 we'll carry on.

3 **DR. JOHN DIPERSIO:** Thanks, Dr. Butterfield.
4 I have two questions really. The first relates to --
5 obviously, the clinical benefit seems to be quite
6 significant. But the bar is also a little bit higher
7 here because these patients can live with their disease
8 for quite a period of time, even though there are great
9 difficulties and challenges. I wonder, when they were
10 going over the patients that were actually screened
11 failures, they were N percent of the patients that had
12 signed consent that were screen failures.

13 I'm wondering what is your estimate of how
14 many patients were considered for the study, but never
15 got to the consenting process that would potentially be
16 candidates? What percent of the actual reasonable
17 candidates are we excluding? Patients with mild liver
18 disease, et cetera, et cetera. I'm just curious.

19 **DR. RICHARD COLVIN:** Yeah, I'm going to ask
20 Dr. Thompson to comment on this since she screened a
21 lot of the patients who were in the study.

1 **DR. ALEXIS THOMPSON:** Thanks, Dr. DiPersio. I
2 think that's a little bit tough to answer. I do think
3 that we certainly have seen a range of iron burdens in
4 the liver, and liver cirrhosis or any evidence of
5 fibrosis is the most common reason for that. We also
6 certainly would exclude patients who have evidence of
7 previous viruses, so hepatitis B, C, or HIV, which we
8 think is prudent. We've also had at least one patient
9 who was excluded because of a reduced iron in 2T star
10 in the heart, suggesting high iron burden in the heart.

11 I certainly would point out that for those
12 individuals who have high ferritins or high liver iron
13 contents without fibrosis or low 2T stars, their
14 exclusion can be temporary. There certainly is a
15 possibility of intensive chelation and allowing those
16 individuals to come back into the program. We
17 certainly recognize that there may be patients who have
18 evidence of prior hepatitis B or C, and, as long as
19 we're not seeing any active disease and evidence that
20 they've had successful treatment, one could make the
21 argument that they might be appropriate for treatment.

1 **DR. JOHN DIPERSIO:** Yeah. Thanks. I think my
2 comment had to do with really the potential for benefit
3 for patients out there that may have been excluded.
4 That would potentially be benefited by this treatment.
5 I'm just trying to get an average estimate of how many
6 patients that would be.

7 My second and final question has to do with
8 the product itself and the slow count recovery. There
9 were four patients that really had slow count recovery,
10 and there's been an exhaustive analysis of those four
11 patients. That all had to do with integration site
12 analyses and et cetera. Are we looking under the
13 lamppost too much here and not focusing on other events
14 that may be happening? For the sickle cell patients at
15 least, as we discussed yesterday, we thought the
16 kinetics and the cytogenetics and the mutations were
17 more consistent with treatment-related diseases as
18 opposed to insertional oncogenesis.

19 And I'm wondering, do we have information on
20 those four patients that had very slow count recovery
21 and on the patients that may have a question of MDS, et

1 cetera, with other sequencing data? In other words,
2 not just looking at integration site analysis, but
3 looking at clonal evolution of specific premalignant
4 clones that really have nothing to do with an
5 integration site analysis that may be related to
6 conditioning for the treatment?

7 **DR. RICHARD COLVIN:** Yeah, so I think just
8 taking a step back to think about engraftment in
9 general, it's very clear that there's a very strong
10 relationship with the presence or absence of a spleen.
11 And we've talked about that, and I think that that's
12 the first step. I'm going to ask Dr. Bonner to come up
13 again and speak to the specific patient you're
14 referring to and if there's anything that we have to be
15 able to talk about there.

16 **DR. MELISSA BONNER:** Thank you. So in the
17 patients that have been highlighted as potentially
18 being at risk for MDS, I want to clarify. We're
19 talking about some of the patients are coming from the
20 lovo-cel program as well, correct?

21 **DR. JOHN DIPERSIO:** Right.

1 **DR. MELISSA BONNER:** And the patients with the
2 two alpha-globin gene deletions. So those patients are
3 extremely polyclonal. By integration site analysis,
4 they have no signal insertion site greater than even a
5 fraction of a percent. And also, we have done next-
6 generation sequencing using hematological malignancy
7 panels to look at potential emergence of clones from a
8 vector-agnostic perspective.

9 And, while we have detected mutations, most of
10 them variants of unknown clinical significance that are
11 likely germline because they are present pre-treatment
12 in baseline screening samples. We have not seen the
13 emergence of any novel variants post-treatment in those
14 patients.

15 **DR. JOHN DIPERSIO:** So what you're telling me
16 is that there's no common recurrently mutated genes
17 that we see in AML or MDS that appear, especially in
18 these patients that have slow recovery?

19 **DR. MELISSA BONNER:** Yes, and if we could
20 have Slide 2 up, please? And to go even further, so,
21 as I stated, we have no malignancy driver mutations

1 identified, which you just stated as well. We have
2 high polyclonal reconstitution with integration site
3 analysis.

4 We have done karyotyping on bone marrow and
5 they are both normal. There was a transient trisomy 8;
6 it was only detected with FISH. It was not detected on
7 karyotype. And there is no diagnostic evidence of MDS
8 or AML from peripheral counts. And I would like to
9 also add that both subjects have benefitted from the
10 treatment.

11 **DR. JOHN DIPERSIO:** All right, thank you.

12 **DR. RICHARD COLVIN:** One other thing while
13 we're talking about those two patients, again, I'd like
14 to ask Dr. Williams to come up for a moment and comment
15 on the alpha-globin deletion status of these patients
16 and information that he has as well.

17 **DR. DAVE WILLIAMS:** Yeah. Thank you. I'm Dave
18 Williams. I'm chief of hematology/oncology at Boston
19 Children's Hospital and Dana Farber Cancer Institute,
20 and Leland Pike's professor of pediatrics at Harvard
21 Medical School. I have no financial interest in the

1 outcome of the proceedings today. Although, I was the
2 coordinating investigator for the trial discussed
3 yesterday in ALD. And I've worked in this field since
4 1982 when I developed vectors for transfer genes into
5 hemopoietic stem cells as a post-doc at MIT.

6 We have a trial in sickle cell disease that's
7 quite different than the trial that was referred to
8 here, the bluebird trial, in the sense that our vector,
9 which is a lentiviral vector, instead of transferring
10 an additional copy of a globin gene, transfers an
11 engineered sequence called a schmear, which is an shRNA
12 embedded in a micro-RNA that modulates the expression
13 of a gamma-globin repressor called BCL11A.

14 We have a Phase 1 trial that's just completed
15 treating ten patients. The efficacy is quite good,
16 just like the bluebird trial. And in that trial, we
17 have one patient out of ten who has two alpha gene
18 deletion alpha thalassemia trait. And in that patient,
19 who's had efficacy from a VOE standpoint, we do see,
20 while there's an increment in the hemoglobin that's
21 significant, over one gram and a half of hemoglobin,

1 that patient's hemoglobin after therapy is likewise
2 lower than the other patients in our trial, leading us
3 to believe that potentially there's a modifying effect
4 on the response to modulation of fetal hemoglobin in
5 the presence of two alpha gene deletions, which would
6 be, in some ways, similar to the finding that bluebird
7 has had.

8 I just want to make one other comment since I
9 have been in the field for so long. The FDA has
10 concerns about the insertional mutagenesis potential
11 comparing beti-cel with eli-cel. And, as a person
12 who's worked on vectors my entire career, there's
13 really a distinct difference between those two vectors.

14 As we talked about much of yesterday, the eli-
15 cel vector has an MND LTR in the middle of the vector,
16 and we know that MND LTRs have mutagenic potential from
17 experience in animals as well as experience in other
18 trials. So I think the comparison, while I understand
19 the concern, is not one that most of us in the field
20 would make. Thank you.

21 **DR. LISA BUTTERFIELD:** Thank you very much.

1 We want to maintain focus on the specific clarifying
2 questions from the Committee, please. Dr. DiPersio,
3 anything else from you?

4 **DR. JOHN DIPERSIO:** No. No, thanks, Dr.
5 Butterfield.

6 **DR. LISA BUTTERFIELD:** Thank you. We'll move
7 into Dr. M., and then we'll hear from Dr. Ott.

8 **DR. JAROSLAW MACIEJEWSKI:** Am I on?

9 **DR. LISA BUTTERFIELD:** Yes.

10 **DR. JAROSLAW MACIEJEWSKI:** I just wanted to
11 clarify one thing. Those two patients with clonality
12 by insertion, they did not have any clonal
13 hematopoiesis by NGS, is this correct?

14 **DR. RICHARD COLVIN:** The two patients that we
15 just were speaking about that Dr. Lindsey were here,
16 that is correct. By NGS, there's no sign of clonality.

17 **DR. JAROSLAW MACIEJEWSKI:** Got you. Among
18 your patient, given the age, I mean you, Dr. Coleman,
19 published a paper or is a co-author of a paper showing
20 not increased rate of, at least in sickle cell anemia,
21 of clonal hematopoiesis. One would think that the

1 stress hematopoiesis in patient with beta-thalassemia
2 or other hematopoietic anemia would generate a higher
3 rate of evolution of clonal hematopoiesis. What you
4 are saying is that you did next-generation sequencing
5 in all of your patients, and there was not a single
6 case of clonal hemopoiesis by NGS, correct?

7 **DR. RICHARD COLVIN:** Well, we didn't do NGS on
8 patients at baseline and patients with thalassemia.
9 And, in terms of the other question about whether or
10 not the stress hematopoiesis is similar in patients
11 with sickle cell disease versus patients with beta-
12 thalassemia, I'm going to ask Dr. Thompson to comment
13 on that.

14 **DR. ALEXIS THOMPSON:** Thank you. I think that
15 this is an opportunity to continue to reiterate while
16 these are both beta hemoglobinopathies that this is
17 certainly one area where there does seem to be a
18 distinction. There's a tremendous amount of interest
19 in trying to understand the contribution of stress
20 erythropoiesis and chronic inflammation in clonal
21 hematopoiesis in sickle cell disease.

1 It's worth noting that the degree of
2 inflammation that's seen on the bone marrow and that
3 one can see markers for in the peripheral blood in
4 sickle cell do not appear to be present in individuals
5 who have thalassemia. So it would suggest that it is
6 not surprising that some of the findings that continue
7 to raise concerns and that continue to be areas of
8 active research in sickle cell are not being seen in
9 beta-thalassemia.

10 **DR. JAROSLAW MACIEJEWSKI:** I think my question
11 was a little bit deflected. I mean, it's just a simple
12 question. Using clonality measures that we use
13 clinically, was there any clonal hematopoiesis detected
14 in the patients who were transplanted? Or, if you
15 didn't do it, it's okay. Just tell us.

16 **DR. RICHARD COLVIN:** If your question is about
17 the ISA, we do know that where we saw oligoclonality --
18 and, by the way, it comes to we did not do NGS
19 routinely on these patients, and we did not see any
20 evidence in those that we of any clonality.

21 **DR. JAROSLAW MACIEJEWSKI:** Okay. This is

1 fair. You just did not search for clonal hematopoiesis
2 and therefore (audio skip).

3 **DR. RICHARD COLVIN:** Oh, that's correct.

4 Based on our baseline understanding that patients with
5 beta-thalassemia are not at increased risk for
6 hematologic malignancies and when we think about
7 allogeneic transplant donors, for example, who are also
8 not thought to be at risk for having increased clonal
9 hematopoiesis, we did not screen at baseline to see if
10 there was any evidence of that for patients with beta-
11 thalassemia in these studies.

12 **DR. JAROSLAW MACIEJEWSKI:** Well, clearly
13 patients who undergo autologous stem cell
14 transplantation for other indication are at much higher
15 risk for clonal hemopoiesis -- many papers -- and at
16 higher risk for malignancies. You didn't see it; it's
17 great. I just wanted to make this comment.

18 One more question. During the duration of the
19 study, luspatercept was FDA approved for congenital
20 hemolytic anemias. Does it affect the sort of
21 indication, or you saying that luspatercept is not have

1 been -- and forgive me, I'm not an expert on these
2 diseases -- was not enough of a game-changer to somehow
3 change the equation in terms of the benefit given
4 presence of this easily administrable drug?

5 **DR. RICHARD COLVIN:** Yeah, I'm going to ask
6 Dr. Thompson to comment.

7 **DR. ALEXIS THOMPSON:** With regard to
8 luspatercept -- and this is full disclosure. I've been
9 an investigator on both the trials for luspatercept in
10 transfusion-dependent as well as non-transfusion-
11 dependent thalassemia. The mechanism of action of
12 luspatercept is not entirely elucidated, but it's
13 fairly clear that it works on a committed red cell
14 precursor and induces late erythroid maturation.

15 The degree of improvement in patients even
16 with transfusion-dependent thalassemia was noteworthy
17 and clinically meaningful. However, it would not
18 achieve transfusion independence. It may reduce the
19 frequency and the total volumes of their transfusions.
20 And currently, it's only been approved for adults.

21 **DR. JAROSLAW MACIEJEWSKI:** Got you. I mean,

1 this is a very good point, I think, and important to
2 know. Thank you.

3 **DR. LISA BUTTERFIELD:** Thank you very much.
4 We're moving to Dr. Ott and then Dr. Gordeuk and Dr.
5 Shah.

6 **DR. MELANIE OTT:** Yes, thank you. I have a
7 question regarding safety and the delay of platelet
8 engraftment. Probably Dr. Singh or Dr. Bonner. I
9 wonder whether you have checked whether your promotor
10 is leaky in the megakaryocyte lineage and could explain
11 why there is a delayed engraftment there?

12 **DR. RICHARD COLVIN:** I'm going to ask Dr.
13 Bonner to respond to this.

14 **DR. MELISSA BONNER:** We have not checked
15 specifically in the megakaryocyte lineage. I think the
16 best piece of data that we have to support that we
17 don't see any leakiness of our promotor would be due to
18 the investigation that we had into our sickle patient
19 who developed AML in February of 2021. Because in that
20 case, we were able to enrich the CD34 positive blast
21 population and conduct RNA sequencing analysis. In

1 that analysis, if I could have Slide 1 up, please, as
2 you can see at the top right corner of the screen, this
3 is detecting transcripts of HBB.

4 And this includes the transgene beta-A-TD7-Q.

5 And in the CD34 positive, both bone marrow and
6 peripheral blood populations, you can see that there is
7 an extremely low level of transcript detected here.

8 And, in fact, the majority of the transcript is
9 actually coming from the endogenous beta-F. Notably,
10 the CD34 positive population is the non-erythroid-
11 containing population. And, of course, in the CD34
12 negative bone marrow population where you would expect
13 erythroid cells, we see a substantially higher level of
14 HBB expression.

15 **DR. MELANIE OTT:** Okay. My second question is
16 regarding efficacy. And I was interested to hear more
17 about the four patients. I believe it was four
18 patients who did not achieve transfusion independence.

19 It sounded as if this was due to transduction
20 efficiency or VCN later but would like to hear what
21 happened there and what conclusions you draw out of

1 this.

2 **DR. RICHARD COLVIN:** Yes. Can we please have
3 Slide 2 up? These four patients had among the lowest
4 values for the percentage of transduced cells in the
5 drug product. Because of these and other results, we
6 did a retrospective analysis, and the analysis
7 identified that certain manufacturing parameters could
8 be responsible for these low numbers. So I want to
9 draw your attention to, on the slide, that the top and
10 those blue dots that are the top-level across, those
11 are all patients who became transfusion-independent.

12 Those in the middle did not become transfusion
13 independent and kept receiving transfusions. And those
14 five dots on the bottom were those patients that I
15 talked about earlier in my presentation that have
16 recently become transfusion-independent because the
17 amount of time has gone by to be able to evaluate. You
18 can see that those dots in the middle, those beige
19 dots, are on the lower side of the chart. And as you
20 go left to right, you can see that the probability of
21 becoming transfusion-independent increases as you move

1 to the right with a higher percentage of cells that are
2 transduced. One thing to point out of course is that
3 not all cells have been transduced in any patient.

4 The analysis we did showed that there could be
5 some manufacturing parameters that could be
6 responsible, therefore manufacturing controls were
7 tightened. And these are included in the proposed
8 acceptance criteria for the percentage of transduced
9 cells in the drug product. All patients treated since
10 the process has been more precisely controlled have
11 achieved transfusion independence.

12 **DR. MELANIE OTT:** What is the number? The
13 percentage of transduction?

14 **DR. LISA BUTTERFIELD:** I'm going to ask for
15 real concise questions and answers because we have to
16 get to a lot of people, please.

17 **DR. RICHARD COLVIN:** Yeah, so you can see
18 where it is on the chart. It's around 60 percent.

19 **DR. MELANIE OTT:** Okay. Thank you. That's
20 all.

21 **DR. LISA BUTTERFIELD:** Thank you very much.

1 Dr. Gordeuk and Dr. Shah and Dr. Wilkins. Please.

2 **DR. VICTOR GORDEUK:** This is actually Victor
3 Gordeuk on the screen right now, so should I ask my
4 question?

5 **DR. LISA BUTTERFIELD:** Yes, please.

6 **DR. VICTOR GORDEUK:** Yeah. I'm just
7 interested in the fact that the platelet counts at
8 Month 24 were substantially lower than the platelet
9 counts at baseline. But could it be that those
10 platelet counts at Month 24 are more reflective of the
11 normal population than at baseline?

12 Because certainly, the bone marrow is with the
13 (inaudible) is undergoing quite a bit of stress, and
14 there could be a stimulate to platelet production that
15 has been relieved by the more normalized platelet count
16 that Month 24. So could one look at the background
17 population, some statistics, and match it to the
18 patients at Month 24 and see if actually they have more
19 normal platelet counts versus the number here?

20 **DR. RICHARD COLVIN:** Yeah, thanks. I'm going
21 to ask Dr. Thompson to answer.

1 **DR. ALEXIS THOMPSON:** Dr. Gordeuk, that is
2 precisely how most of us would have interpreted that
3 data. They are lower than they were at baseline, yet
4 they're within the normal range, and I do think that a
5 very plausible mechanism would be that they have more
6 normal hemopoiesis after beti-cel therapy. And that it
7 would, as a result, result in a platelet count that is
8 still within the normal range but lower than baseline.

9 **DR. VICTOR GORDEUK:** Okay, yeah, thank you.
10 That seems logical to me as well. And I have one other
11 question. In terms of iron overload resolving after
12 the transplant, does it only resolve with a phlebotomy
13 or iron chelation? Or is there some resolution of iron
14 overload without phlebotomy or iron chelation after
15 transplant?

16 **DR. RICHARD COLVIN:** Again, I'm going to turn
17 to Dr. Thompson, who's treated a number of these
18 patients.

19 **DR. ALEXIS THOMPSON:** There was actually a
20 subset of patients who did not get any post-beti-cel
21 therapy. Not surprisingly, they were patients who came

1 in with remarkably well-controlled iron coming into
2 transplant. And their MRIs and serum ferritins
3 following it were within a range that their treating
4 clinicians have opted not to treat them. Having said
5 that, I think the one area that we are particularly
6 excited about is that once individuals are able to --
7 if I can have Slide 1 up -- when you get to the point
8 where patients have achieved iron reduction with
9 chelation in this place following beti-cel therapy and
10 then you continue to follow patients after they stop
11 chelation. What's quite gratifying is that their iron
12 homeostasis has modified at that point, so that they do
13 not reaccumulate iron.

14 Is it possible that some of them would have
15 reduced some without chelation? I suppose, but I think
16 many of us are fairly satisfied with the safety
17 parameters for either chelation or phlebotomy to
18 recommend that routinely after transplant. And what's
19 satisfying is that once achieving that reduction, it
20 seems to be sustained.

21 **DR. VICTOR GORDEUK:** All right, that's

1 excellent. Thank you.

2 **DR. LISA BUTTERFIELD:** Thank you. So we're
3 going to go to Dr. Shah next, and then I wanted to
4 allow for a quick comment from Dr. Kasamon after that.

5 **DR. NIRALI SHAH:** Perfect. So I have just two
6 questions. One of mine was already answered. The two
7 questions I have, if you found an association between
8 having a spleen or having a splenectomy and the
9 association with prolonged thrombocytopenia. I wanted
10 to ask what your thoughts about there was and if you
11 had looked at spleen size or sequestration and the
12 potential etiology for that.

13 My second question is I wanted to know if any
14 of your patients had received any type of TPO agonist
15 or something to kind of improve the platelet count? I
16 just didn't hear much about that.

17 **DR. RICHARD COLVIN:** Okay. I want to ask Dr.
18 Singh to respond to your question.

19 **DR. AJAY SINGH:** Yes. So, Dr. Shah, we were
20 able to look at spleen size in our Phase 3 studies. If
21 I could have Slide 2 up, please? So just to orient you

1 here, this is a six-month platelet count. In the right
2 left are patients that don't have a spleen. The second
3 bar, these are (inaudible) are patient that have a
4 spleen but no splenomegaly. And, most importantly, the
5 third one are ones that we had splenomegaly identified
6 by volume.

7 But then there is evidence that not only does
8 the spleen make an effect, but it's also the size of
9 the spleen and probably hypersplenism. And tell me the
10 second question? It was the growth factors. We did
11 not routinely give growth factors. Dr. Thompson, do
12 you have any comment on that?

13 **DR. ALEXIS THOMPSON:** I think the only
14 additional comment I would make is that platelet counts
15 in thalassemia, in my opinion, are confounded by the
16 physiology of thalassemia. We are not surprised that
17 there are going to be some patients who have some
18 degree of hypersplenism, which will make it very
19 difficult to differentiate those aspects of platelet
20 recovery that are associated with platelet engraftment
21 and those that are peripheral destruction.

1 Ideally, those are in balance. After a
2 hemopoietic stem cell transplant, we would hope that
3 there is normalization of many things, especially given
4 that these individuals stop transfusions. And so, the
5 sensitization and the stimulation of their spleen
6 improves, but it certainly is the platelet engraftment.
7 I can appreciate that from the FDA's perspective that
8 that is one area of great concern to the extent that
9 it's related to the procedure. I would point out
10 though in the thalassemia world it is not surprising
11 though to have great difficulty in interpreting it
12 given that these patients typically have hypersplenism.

13 **DR. LISA BUTTERFIELD:** That answer your
14 questions, Dr. Shah?

15 **DR. NARALI SHAH:** I think I wanted to confirm
16 that for the patients who have not received TPO
17 agonists? I think that's the second part of the
18 question.

19 **DR. RICHARD COLVIN:** No, they do not.

20 **DR. LISA BUTTERFIELD:** Great. Thank you, Dr.
21 Shah. All right then. Let's move to Dr. Kasamon, and

1 then our two patient representatives, Dr. Singh and
2 Trieu.

3 **DR. KARL KASAMON:** Thank you. I wanted to
4 comment about the, I think, reasonable but somewhat
5 charitable hypothesis that it's potentially the
6 functional curative impact of beti-cel that may affect
7 the underlying thalassemia and therefore remove the
8 antecedent sort of secondary thrombopoiesis thus
9 lowering the platelets thereafter. But I think that
10 would be a, obviously, considerable diagnosis of
11 exclusion, and also, in my perusal of allogeneic
12 transplant literature, I haven't seen as much of this
13 impact.

14 I would assume that replacing a faulty marrow
15 with thalassemia with a donor marrow would potentially
16 have the same impact if it were simply a correction of
17 the thalassemia.

18 Second question I had, or two perhaps small
19 ones, were aimed just what Dr. Bonner. We wanted to
20 maybe seek some clarity regarding the two sickle cell
21 subjects who had the debatable MDS cases. So we wanted

1 to clarify the statement that they both gained benefit
2 from the treatment and, depending on which endpoint is
3 looked at, one of them developed de novo transfusion-
4 dependence. In other words, before she was treated,
5 she did not require transfusions, and now she appears
6 transfusion-dependent. So we wanted to ask about that.

7 And then the second issue was the transiency
8 of the trisomy 8 in the second subject, the male
9 subject. We understood that it was not transient, and
10 we wanted to see if that's the case. Thank you.

11 **DR. RICHARD COLVIN:** Yes. I will start with
12 the second question, again about these two patients.
13 Pull up Slide number 2 please, again. This is similar.
14 This is what Dr. Bonner had shown earlier today. But I
15 think one of the key pieces here is that these patients
16 are fully polyclonal. In any way you look, whether
17 it's through insertion site analysis, they have more
18 than 30,000 unique integration sites. The highest one
19 is less than one percent in both of these patients.

20 When it comes to evidence of alpha-
21 globin/beta-globin mismatch, we've seen some evidence

1 of that, but we've also seen that this occurs in
2 another program where there's a patient with alpha-
3 globin deletion. For these reasons, we've made an
4 exclusion -- the protocol's ongoing -- that these were
5 going to be the case.

6 In terms of the clinical benefits that these
7 two patients have had, I'll start with the younger, the
8 young man first, who's about 14 years old now. He was
9 having frequent VOEs. He is maybe mildly anemic at
10 this point, but he's not had any VOEs since. And he's
11 doing extremely well. He and his family evidently
12 are very happy with how things are going.

13 In terms of the trisomy 8, I'm going to ask
14 Dr. Lindsey to comment on the trisomy 8 and what we're
15 seeing there. And because we have seen -- when we
16 looked at the karyotype of these patients -- and I do
17 believe it's still the gold standard -- we counted more
18 than 200 metaphases, which were similar numbers than
19 there are in terms of what was looked at with FISH.
20 I'm going to ask Dr. Hasserjian to come up and talk
21 about the FISH results. And also the bone marrow's

1 from these patients since he reviewed them.

2 **DR. ROBERT HASSE RJIAN:** Thanks. I'm Robert
3 Hasserjian. I'm a hematopathologist at Massachusetts
4 General Hospital and a professor of pathology at
5 Harvard Medical School, and I have interest and
6 expertise in both clinical and research in the
7 diagnosis and classification of MDS. And I did review
8 these patient samples.

9 And, as Dr. Colvin said, the karyotypes of
10 both these patients was entirely normal. And, in fact,
11 FISH is not recommended to be performed if a karyotype
12 is done with 20 normal metaphases. It's not uncommon
13 to see borderline levels of abnormalities, especially
14 in numerical, like trisomy 8. As we've seen, these
15 numbers were five percent, seven percent. They were
16 very low, near the cutoff that one would expect and
17 could be false positive and shouldn't have been done
18 anyway, because normal karyotype of 20 metaphases is
19 considered to sort of exonerate cytogenetic abnormality,
20 and FISH shouldn't be performed.

21 Morphologically, the changes I saw were

1 entirely consistent with stress erythropoiesis. And,
2 importantly, as you heard, there's no evidence of
3 clonality by integration site analysis and clonality is
4 the sine qua non of MDS. So I think that's very strong
5 evidence that there's not a clonal process going on.

6 **DR. RICHARD COLVIN:** And there was a second
7 question? I wanted to make sure we get to as well.
8 And can you rephrase that, please? The first question.

9 **DR. LISA BUTTERFIELD:** Dr. Kasamon, anything
10 remaining?

11 **DR. KARL KASAMON:** Well, I guess, the second
12 part was the part about the benefit. There may be
13 sickle cell disease endpoint benefit, but this could be
14 explained by becoming transfusion-dependent and thus
15 being given adult hemoglobin. And so, she became de
16 novo. The second subject became de novo transfusion-
17 dependent, which we believe is not a benefit.

18 **DR. RICHARD COLVIN:** Understood. And I just
19 want to point out too that the first patient, the one
20 you're referring to with the persistent FISH, most
21 recently, we had a peripheral blood FISH that was

1 negative. No sign of trisomy 8.

2 **DR. KARL KASAMON:** All right. One more little
3 point. I believe that patient with the transfusion
4 dependence also had a potentially germline ATM
5 mutation. I just wondered if you could comment on
6 that, on the implications.

7 **DR. RICHARD COLVIN:** Yeah, thanks. Dr.
8 Lindsley will comment on that.

9 **DR. COLEMAN LINDSLEY:** Yes, there was a likely
10 germline splice site alteration in ATM that was
11 identified prior to treatment. And after treatment,
12 this was because of the persistence before and after as
13 well as the variant allele fraction, which was
14 approximately 50 percent, was presumed to be germline.
15 Heterozygous splice site or, in this instance, variants
16 in ATM are rather common and do not, on their own,
17 correlate with a markedly increased risk of
18 malignancies.

19 **DR. KARL KASAMON:** Thank you.

20 **DR. LISA BUTTERFIELD:** Okay. Dr. Kasamon.
21 Let's close out the question period by hearing from our

1 two patient representatives. First, Dr. Singh.

2 **DR. NAVDEEP SINGH:** Hello. Yeah. I just had
3 a general question. There was one slide earlier on
4 where you had the red slide, and you were showing these
5 were the patients that had to continue transfusions. I
6 was wondering, was there any common trend or common --
7 what was the reason basically that these patients, was
8 there any commonality, any common denominator that
9 these patients, that they all had that why these
10 patients had to continue transfusions?

11 **DR. RICHARD COLVIN:** Yeah, so there were no
12 clinical features in common with these patients. The
13 only commonality was that they had relatively low
14 percentages of transduced cells. And so, for that
15 reason, they weren't able to make enough of the
16 transgenic T87Q hemoglobin in order to become
17 transfusion independent.

18 **DR. LISA BUTTERFIELD:** Okay.

19 **DR. NAVDEEP SINGH:** Okay. Thank you.

20 **DR. LISA BUTTERFIELD:** And then, Dr. Trieu.

21 We can't hear you.

1 **DR. JANELLE TRIEU:** As a follow-up to that
2 question or in regards to the four patients unable to
3 achieve transfusion independence, what is known about
4 their eligibility to undergo therapy a second time?

5 **DR. RICHARD COLVIN:** Yes, so as of this time,
6 because a second transplant may be more risky for the
7 reasons that we know about either allogeneic or
8 autologous transplants, at this time, people are not
9 eligible to undergo a second transplant. But I'm going
10 to let Dr. Olson comment a little bit further on second
11 transplants for such indications.

12 **DR. TIM OLSON:** Hello. I'm Dr. Tim Olson.
13 I'm the medical director of blood and marrow transplant
14 at the Children's Hospital of Philadelphia. And I
15 think I can answer this question. When a patient has
16 undergone a busulfan-based regimen once, we would not
17 repeat a busulfan-based transplant a second time.
18 However, if there are donor options that are available
19 there are alternative conditioning regimens that could
20 potentially be available for allogeneic transplant.

21 **DR. LISA BUTTERFIELD:** That answer your

1 question?

2 **DR. JANIELLE TRIEU:** Thank you.

3 **DR. LISA BUTTERFIELD:** Thank you very much.

4 So with that, we need to close out this very robust
5 question and answer period. I'd like to thank everyone
6 for the important discussion. We will now reconvene in
7 30 minutes at the top of the hour for the open public
8 hearing. Thank you very much.

9 **MR. MICHAEL KAWCZYNSKI:** Everyone, stay online
10 just for a minute. Wait till we're clear.

11

12 **[LUNCH BREAK]**

13

14 **OPEN PUBLIC HEARING**

15

16 **MR. MICHAEL KAWCZYNSKI:** Welcome back to the
17 72nd Cellular Tissue and Gene Therapy Advisory
18 Committee meeting. Let's get started after that lunch,
19 and I'm handing it back to our Chair, Dr. Butterfield,
20 and our DFO, Dr. Christina Vert. Take it away.

21 **DR. LISA BUTTERFIELD:** Thank you very much.

1 Welcome to the open public hearing. I'll start by
2 reading the announcement for particular matters
3 involving specific parties.

4 Welcome to the open public hearing session.

5 Please note that both the Food and Drug Administration,
6 FDA, and the public believe in a transparent process
7 for information gathering and decision-making. To
8 ensure such transparency at the open public hearing
9 session of the Advisory Committee meeting, FDA believes
10 that it is important to understand the context of an
11 individual's presentation.

12 For this reason, FDA encourages you, the open
13 public hearing speaker, at the beginning of your
14 written or oral statement to advise the Committee of
15 any financial relationship that you may have with the
16 sponsor, its product and if known, its direct
17 competitors. For example, this financial information
18 may include the sponsor's payment of expenses in
19 connection with your participation at this meeting.

20 Likewise, FDA encourages you at the beginning
21 of your statement to advise the Committee if you do not

1 have any such financial relationships. If you choose
2 not to address this issue of financial relationships at
3 the beginning of your statement, it will not preclude
4 you from speaking. That being said, I now turn it over
5 to Christina Vert for the open public hearing session.

6 **MS. CHRISTINA VERT:** Thank you, Dr.
7 Butterfield. Before I begin calling the registered
8 speakers, I would like to add the following guidance.
9 FDA encourages participation from all public
10 stakeholders in its decision-making processes. Every
11 Advisory Committee meeting includes an open public
12 hearing, OPH, session, during which interested persons
13 may present relevant information or views.

14 Participants during the open session are not
15 FDA employees or members of this Advisory Committee.
16 FDA recognizes that the speakers may present a range of
17 viewpoints. The statements made during this open
18 public hearing session will reflect the viewpoints of
19 the individual speakers or their organizations and are
20 not meant to indicate Agency agreement with the
21 statements made. With that, we will move on to the

1 first speaker. Janet Kwiatkowski.

2 **DR. JANET KWIATKOWSKI:** Great. We're on Slide
3 1. Good afternoon and thank you for the opportunity to
4 speak today. I'm Janet Kwiatkowski. I direct the
5 thalassemia program at the Children's Hospital of
6 Philadelphia where I've helped care for individuals
7 with thalassemia for over 20 years. I also currently
8 serve as the Chair of the Medical Advisory Board of The
9 Cooley's Anemia Foundation.

10 Next slide, please. I have participated in
11 the beti-cel and lovo-cel clinical trials as shown on
12 this slide, and I've also consulted for bluebird bio in
13 the past. But I have no financial interest in the
14 outcome of the BLA.

15 Slide 3, please. Individuals with thalassemia
16 may experience a number of different clinical
17 complications. These can broadly be divided into
18 complications due to the life-sustaining transfusions
19 and complications from the anemia and ineffective red
20 cell production. Repeated blood transfusions cause
21 iron accumulation, and, if not well controlled with

1 medications, this leads to a number of complications
2 including heart disease, diabetes, and other endocrine
3 problems and liver fibrosis, which increases the risk
4 of hepatocellular carcinoma.

5 Other complications with transfusions include
6 a risk of developing antibodies to red cells that can
7 make transfusion difficult and acquiring a bloodborne
8 infection. Complications also can occur due to
9 ineffective red cell production and anemia, including
10 growth delay, facial bone changes, extramedullary
11 hematopoiesis, and other problems as listed here.

12 Slide 4, please. Over the past few decades,
13 significant advances in conventional therapy have been
14 made, including the availability of oral iron chelation
15 and the ability to monitor iron burden with MRI, but
16 conventional therapy is still arduous. This slide
17 provides an overview of treatment.

18 Regular blood transfusions typically are
19 administered every two to five weeks. These visits
20 take several hours, meaning a missed day from work or
21 school. Iron chelation therapy is given to control the

1 iron burden. This involves taking either an oral
2 medication once to three times a day or deferoxamine as
3 a subcutaneous infusion given over 8 to 12 hours.

4 Side effects to the medication, such
5 gastrointestinal upset or liver or kidney problems, can
6 occur. And finally, regular monitoring is required to
7 assess the effectiveness of treatment and to monitor
8 for possible side effects.

9 Slide 5, please. As you can see on this
10 slide, the comprehensive care needed is burdensome with
11 a number of tests and specialists visits required at
12 least yearly, and, in the setting of a complication,
13 even more frequent testing is needed. The burden of
14 treatment often negatively impacts quality of life and
15 things that we take for granted, like taking a
16 vacation, all need to be carefully planned to fit in
17 with the transfusion and treatment schedule.

18 Slide 6, please. Thus, curative therapies are
19 desperately needed for individuals with thalassemia.
20 We've known that allogeneic hematopoietic stem cell
21 transplant is an excellent treatment option,

1 particularly if a matched sibling donor or a well-
2 matched unrelated donor is available. However,
3 outcomes are best for young children.

4 There's a risk of graft versus host disease,
5 and this treatment option is not available for over
6 half of the patients because of the lack of an
7 appropriate available donor. Gene therapy with beti-
8 cel offers another potentially curative treatment
9 option to fill this gap. There is no need to find a
10 donor and no risk of graft versus host disease as the
11 donor is the patient.

12 As you have seen earlier today, rates of
13 transfusion independence are excellent. Importantly,
14 the outcomes did not vary by age, which opens up
15 treatment options for adolescent and adult patients
16 where allogeneic transplant outcomes are worse. I've
17 had the benefit of caring for a number of patients who
18 participated in the beti-cel clinical trials, and I can
19 tell you that patients and their families all report
20 that this treatment option has changed their lives.

21 I strongly support the approval of beti-cel,

1 which provides a much-needed treatment alternative for
2 patients. Thank you.

3 **MS. CHRISTINA VERT:** Thank you. Next speaker
4 will be David Wiseman.

5 **DR. DAVID WISEMAN:** Hello. Thank you. Can
6 you hear me?

7 **MS. CHRISTINA VERT:** Yes, we can hear you.

8 **DR. DAVID WISEMAN:** Thank you. I have no
9 conflicts. The first title of this slide is a title
10 slide number 1. Please see our written remarks. In
11 these excellent deliberations bluebird, FDA, NAH, and
12 the panel have wrestled with complex risk benefit and
13 their issues in trial analysis and molecular biology.
14 We thought -- with decades of medical development
15 experience, I can say this is what FDA review is
16 supposed to look like.

17 So where is the same excellence in FDA's
18 handling of COVID vaccines? We heard in Tuesday's
19 VRBPAC meeting that 73 percent of Americans had
20 reservations about COVID gene therapy vaccines, hardly
21 meeting OTAT's goal to increase public confidence in

1 novel technologies.

2 Next slide 2. Moderna and BioNTech expected
3 to see their COVID vaccines regulated as gene
4 therapies. Meeting FDA's biological definition,
5 infectious disease vaccines are excluded from FDA's
6 guidance.

7 Next slide, 3. Despite this, OTAT has six labs working
8 on gene therapy, flu vaccines, and COVID. Next slide,
9 4. Last September, FDA asked this Committee about
10 other viral vectors and adverse events resembling those
11 seen with COVID vaccines, cancer, liver, blood, nerve
12 issues, and -- next slide 5 -- other concerns requiring
13 5 to 15 years of follow-up but ignored by VRBPAC.

14 Next slide, 6. These concerns show up as VERS
15 safety signals. Next slide, 7. CDC now recognizes
16 MIS-V to include blood, liver, and neuro elements.
17 Next slide, 8. COVID vaccine neuro effects are now
18 also recognized by NIH.

19 Next slide, 9. What about pseudouridine
20 toxicity? Next slide, 10. What are the effects of
21 human gene sequences in untranslated regions? Next

1 slide, 11. BioNTech flags genome integration in DNA
2 vaccines and mRNA transient issues.

3 Next slide, 12. For Pfizer's FOIA files, we
4 know little about the kinetics of the mRNA or its spike
5 protein product. Next slide, 13. Their persistence in
6 this study for at least eight weeks causes concern.

7 Next slide, 14. This contradicts CDC's information.

8 Next slide, 15. Evidence here, a reverse
9 transcription of vaccine mRNA to DNA invokes Dr.
10 Sahin's fear of insertional mutagenesis. Next slide,
11 16. Where are the carcinogenicity or genotox studies?
12 What are the insertional risks from residual DNA
13 impurities described in this EMA report?

14 Next slide, 17. From CDC data, does negative
15 vaccine efficacy reflect gene therapy guidance concerns
16 about infection? Next slide, 18. Other studies
17 concern waning and negative efficacy plummeting below
18 FDA's 50 percent guidance. Next slide, 19. Boosted
19 vaccine efficacy wanes rapidly.

20 Next slide, 20. The wisdom of frequent
21 boosting is questioned in EMA and in CDC's ACIP as the

1 last whack-a-mole. Next slide, 21. EU data showed
2 limited periods of beneficial association between
3 boosting and all-cause death emits detrimental periods,
4 especially in the under 60s. Next slide, 22. CDC data
5 reveals similar detrimental associations.

6 Next slide, 23. Is FDA hiding its gene
7 therapy COVID vaccine concerns? Has FDA consulted its
8 own experts in OTAT? And if not, why not? Next slide,
9 24. FDA toxicologist and FDA's AMBAC committee
10 critically reviewed mutagenesis in the COVID drug
11 molnupiravir.

12 Last slide, 25. The critical review here of
13 bluebird's platform shows that OTAT can increase public
14 confidence in novel technologies. So why has FDA not
15 increased public confidence in COVID vaccines, not
16 acknowledged COVID vaccines as gene therapies, and not
17 afforded the public fully informed consent? Getting to
18 why the COVID vaccination for children, this Committee
19 must dissect their risks. What say you, Drs. Bryan and
20 Butterfield? Thank you.

21 **MS. CHRISTINA VERT:** Thank you. Next speaker

1 will be Wanda Sihanath.

2 **MS. WANDA SIHANATH:** Hi, everyone. My name is
3 Wanda Sihanath, and I was one of the first patients in
4 the bluebird biogene therapy clinical trial for beta
5 thalassemia. Specifically, I was diagnosed with E-beta
6 thalassemia. This transplant took place at Lurie
7 Children's Hospital in Chicago, Illinois in 2014.

8 When I signed the consent form the day after
9 my 18th birthday, eight years ago, I had no clue my
10 life would end up the way that it did. Until that day,
11 I was set with the fact that I would never leave the
12 Chicago area because that's where my parents and I were
13 comfortable receiving my care. I had a monthly routine
14 where I would miss a day of school and spend it at the
15 transfusion center. Because of these regular
16 transfusions, I also had to make sure my iron levels
17 were maintained and taking the necessary chelation
18 medication and doing proper bloodwork and scans
19 necessary.

20 I thought this was the routine I would have
21 for the rest of my life. When I presented the

1 opportunity that a clinical trial was going to begin
2 for gene therapy for thalassemia patients, I was both
3 excited and scared. Being one of the first, there was
4 some miscommunication about expectations between my
5 care team and myself. This included discussions about
6 my length of stay in the hospital, how long I would be
7 in isolation without visitors, and if I would lose any
8 of my hair due to the chemotherapy.

9 One of the concerns I have to this date is
10 whether or not my fertility was affected. I was not
11 offered egg freezing as a covered option in the study
12 whereas everyone following me in the study was. This
13 is something I'm still pessimistic about. Being 18 at
14 the time of consent, I do believe I was a bit naïve,
15 and looking back, I wish I did receive some sort of
16 counseling so I was able to understand everything that
17 will and could've happened more thoroughly before
18 proceeding.

19 The process of collecting my T cells through
20 apheresis took four 10-hour days. After the cells were
21 shipped to a lab and was done, I spent about a month in

1 the hospital where things moved very fast. I was given
2 four days of chemotherapy to prepare my body for
3 transplant of the new cells. I was told the drug was
4 pretty aggressive, but I was exercising every day and
5 even requested an exercise bike for my room.

6 Soon after the chemotherapy was done, a 15-
7 minute IV transfusion was all it took for the new
8 (Audio skip) to be transplanted. Everything went
9 smoothly, and the most inconvenient bumps in the road
10 were mouth sores that I got from the chemo and an
11 infection from my pick line, which probably added about
12 a week to my stay. I was discharged from the hospital
13 after a month, which was quicker than expected, and I
14 had to do daily, then every other day, then weekly
15 checkups for about a month. And now, eight years out,
16 I only go back for a follow-up annually.

17 I'm currently taking chelators to bring my
18 ferritin down as my body has become stagnant on
19 relieving it naturally. My hemoglobin has also been
20 slowly dropping over the last eight years, and it was
21 at a 9 when measured last month. We haven't determined

1 if this was because the drug is wearing off over time
2 or if my body needs an extra boost to continue
3 producing hemoglobin by its own.

4 I personally would like to avoid going back to
5 regular transfusions, and I was also asked recently if
6 I would do this all again if I wanted to become
7 transfusion free moving forward, which I believe I
8 would do so.

9 Taking the steps to be a part of this clinical
10 trial was one of the best decisions that I've made. I
11 hope to allow others to follow suit and feel the
12 freedom that I have not being limited by my own
13 disease. With my transplant in March 2014 and my last
14 blood transfusion that following month in April, I've
15 not taken these last eight years for granted. The
16 freedom I have not being tethered to my transfusion
17 center and not feeling the burden of the long-term
18 effects of iron overload and accompanying chelation.

19 I had moved to Arizona for college, then
20 England to do my master's degree, and now I'm currently
21 residing in California. My career in biomedical

1 engineering and research also may or may not have been
2 inspired by my experience with gene therapy. Had this
3 experience never had happened, who knows what my life
4 would be like today or where I would have decided to
5 take my career.

6 I could not be more grateful of the
7 opportunity gene therapy has given me to direct my
8 life, and I know all of my thalassemia friends -- or
9 what we like to call thal pals -- live vicariously
10 through me and I look forward to celebrating their
11 potential journey of cured in the near future. Thank
12 you for your time.

13 **MS. CHRISTINA VERT:** Thank you. The next
14 speaker is Jenine Abruzzo.

15 **MS. JENINE ABRUZZO:** Hello. My name is Jenine
16 Abruzzo, and I have beta thalassemia major. Thank you
17 for allowing me to explain my experiences with
18 thalassemia and why it is important to thalassemia
19 patients to have the opportunity to undergo a gene
20 therapy procedure as a possible curative option.

21 Today, I am 48 years old and considered to be

1 one of the older patients living with thalassemia
2 major. Stephanie, my older sister, did not receive the
3 same medical interventions as me, and she passed away.
4 My sister was born in 1960 when doctors were
5 unknowledgeable about this disease. They transfused
6 her regularly. However, science was not prepared for
7 the complications of iron overload from the ongoing
8 transfusions.

9 Medications can remove excess iron called an
10 iron chelator was not available to patients until 1978.
11 With no way to remove excess iron, she suffered a
12 splenectomy and heart, liver, and kidney failures
13 throughout her life. Thalassemia major is a
14 hematological genetic blood disorder that affects
15 people of Mediterranean, Asian, and Middle Eastern
16 dissent. Our bone marrow produces red blood cells that
17 lack a sufficient amount of hemoglobin needed to
18 survive, causing a person to become severely anemic and
19 totally dependent on chronic blood transfusions.

20 Although the blood transfusions are necessary,
21 they can hurt us without the use of an iron chelator

1 just like my sister experienced. Iron chelators can be
2 injected or taken orally. I use the injection because
3 I cannot metabolize the oral chelators. I infuse the
4 medication each night subcutaneously using a battery-
5 operated pump that fully releases the medication over
6 seven hours. I've been doing this since I was five
7 years old, and I am thankful for this medication
8 because without it I would not be alive today.

9 Being diagnosed at six months old, I have
10 received blood transfusions my entire life. That means
11 that for me, once every two weeks, I cannot go to work
12 and instead go to my treatment center to get transfused
13 with two units of red blood cells over six hours.
14 While there, I am medicated which makes me extremely
15 tired and achy. I take the entire next day for my body
16 to acclimate to the new blood cells I received. After
17 a transfusion, I can understand what it may feel like
18 to be cured of thalassemia major.

19 I have a normal hemoglobin, energy, rosy
20 checks, less pain, and I feel happy, energized, and
21 very much alive and a part of life. This feeling lasts

1 for approximately one week. Thereafter, my hemoglobin
2 starts to weaken, and I can feel my body slowing down.
3 I become easily fatigued, achy, foggy, pale, and I
4 increasingly feel secreted of energy and oxygen.

5 This condition worsens until I return for my
6 next blood transfusion and I want to feel better again.
7 My life does not stop because I need blood. There are
8 no holidays from a being wife, mother, caretaker to my
9 parents, or a full-time special education teacher. I
10 work very hard to overcome the fears and challenges of
11 having thalassemia. Since losing my beloved sister 19
12 years ago, my biggest fear is dying and leaving my
13 children motherless and my husband widowed.

14 I worry about developing complications as I
15 age and how they may affect my future health. I think
16 about being an older person still getting blood
17 transfusions every two weeks and wonder if my veins
18 will continue to hold up if I am stuck with needles so
19 often. I think about the possibility of a natural
20 disaster and how that could limit me from getting a
21 transfusion. I pray that the blood supply continues to

1 remain safe and that it does not pose a risk to my
2 health.

3 Today, people with thalassemia are being cured
4 through trial gene therapy procedures, and they are
5 living their lives without needing biweekly blood
6 transfusions, medication, and medical care. They are
7 fortunate to have science be able to correct the
8 genetic mutation to give them a new future filled with
9 promise, health, safety, and the potential to live a
10 long, fulfilling, energetic life. I wish for the day
11 when all thalassemia major patients are able to undergo
12 this miraculous gene therapy procedure. Thank you.

13 **MS. CHRISTINA VERT:** Thank you. The next
14 speaker is Susan Carson.

15 **MS. SUSAN CARSON:** Good afternoon. I am the
16 nurse practitioner for the Thalassemia Center of
17 Excellence at Children's Hospital Los Angeles. I have
18 no financial relationship. We are one of a few centers
19 around the country who are expert in treating
20 thalassemia. I have 26 years' experience. Thank you
21 for the opportunity to speak to the Committee about the

1 real-life impact of living with a true forever disease.

2 I cannot say that I have walked in my patients
3 shoes, but I will walk with them on this journey. The
4 CDC states that chronic diseases are defined broadly as
5 conditions that last one year or more and require
6 ongoing medical attention or limit activities of daily
7 living or both. I am sure my patients would be
8 ecstatic if their thalassemia diagnosis lasted only one
9 year. Even a few years would be incredible, but it
10 doesn't go away, ever. Thalassemia is a true chronic
11 life-long disease.

12 26 years ago, the outlook for patients was
13 grim. Early death reduced quality of life and very
14 burdensome treatments. Over the years, care improved
15 increasing their lifespan and reducing mortality and
16 morbidity, but still, the burden of living with
17 thalassemia is massive and affects every aspect of
18 their lives as they grow and develop.

19 Many families are referred to me through
20 newborn screening when their precious baby is diagnosed
21 with a rare illness that can only be cured with bone

1 marrow transplant from a sibling match donor. Most do
2 not have a match. Some patients are adopted from other
3 countries where they were orphans, abandoned because
4 they had a chronic illness.

5 Here now, in the U.S., they have access to
6 care but no cure. Most do not live near Center of
7 Excellence and make large annual trips for their
8 comprehensive evaluation. I see patients from all over
9 the U.S. who come to us. At home, they may be the only
10 one in their clinic. It is hard for providers to have
11 any expertise unless you care for a larger population
12 of patients.

13 Throughout life, events are interrupted by
14 blood transfusions every two to four weeks, which take
15 all or most of the day. Work and school is missed due
16 to frequent hospital visits. Parents and patients have
17 been fired from missing work. Time and money is spent
18 coming to the hospital. Many travel long distance for
19 their transfusions.

20 The pandemic showed how fragile our blood
21 supply is. Many were denied blood or given less than

1 they needed. Medications to treat iron overload are
2 expensive and, if approved, may still incur large
3 copays. Clinic staff spend hours convincing insurance
4 companies to cover these meds and are not always
5 successful. Patients spend their childhood wondering
6 why they have to come into the hospital all the time
7 while their friends do not.

8 As teenagers, they just desperately want not
9 to be different. Adolescence is a dangerous time for
10 all of us. Add in a chronic illness and the stakes are
11 even higher. Nonadherence with medication is common
12 and life-threatening and become a constant lifelong
13 struggle. Some of my patients go through waves of
14 pills to take leading to dangerous levels of iron
15 overload.

16 I care for a beautiful young lady who
17 transferred to my center as a teenager. I asked about
18 her plans after high school and offered my help in
19 planning if she was thinking of go away for university.
20 It's doable but lots of work. She started crying. She
21 assumed she could never go away for college due to her

1 thalassemia.

2 In adulthood, finding employment can be
3 difficult. Will they be able to get insurance? Will
4 it cover their care and medications? Can they find an
5 adult provider with any knowledge of thalassemia? Most
6 do not, and access to care is a huge issue and affects
7 quality of life and outcomes.

8 Patients try and fit families, life travel,
9 all the stuff we take for granted, but everything is
10 limited by and bookmarked by blood transfusion. And
11 that need never stops. Despite all this and because of
12 it, I think, thalassemia patients and their caregivers
13 are amazing. They inspire me with their resilience and
14 perseverance and zest for life.

15 I'm humbled by their strength and consider
16 myself lucky they allow me to care for them and be part
17 of their lives. But I don't want them to have this
18 forever chronic illness. I want them to have a chance
19 at a cure, which gene therapy will offer. Thank you.

20 **MS. CHRISTINA VERT:** Thank you. The next
21 speaker will be Ralph Colasanti.

1 **MR. RALPH COLASANTI:** Good afternoon. My name
2 is Ralph Colasanti, and I would like to thank the
3 Committee for this opportunity to speak on behalf of
4 the gene therapy application from bluebird bio. I'm
5 speaking today as the national president of the
6 Cooley's Anemia Foundation and also as a thalassemia
7 patient for over the last 60 years. My story is quite
8 similar to many others my age.

9 When I was diagnosed, the doctors -- and the
10 outlook was grim. My life expectancy was supposed to
11 be mid-to-late teens, maybe early 20s. Doctors didn't
12 give us much hope for a better life than that. So as
13 thalassemia patients, we just went by, worried about
14 quantity of life, not quality of life. We just wanted
15 to get another day.

16 Fortunately, there's been significant changes
17 and advances in treatment for thalassemia in my
18 lifetime, which gave us a better outlook and better
19 than anticipated -- chelation therapy options,
20 noninvasive iron measurement, better understanding of
21 iron regulation in our bodies and other developments

1 has really excelled us and made us beat the forecast
2 that we were originally given.

3 Even with these advances, living with
4 thalassemia is not easy. The constant need for blood
5 transfusions, the difficulty of maintaining appropriate
6 iron balance in your body, the complications when
7 patients developed persistent challenges over time --
8 for example, I have severe vision loss, and that's been
9 happening since my late 30s, early 40s. And this is
10 due to the chelation toxicity.

11 My bones are fragile, and I have osteoporosis,
12 and as an almost 60-year-old male, that's something
13 that you don't really think about. And as far as that,
14 the doctors monitor my liver and heart function very
15 carefully. As the national president of the Cooley's
16 Anemia Foundation and an active member of the
17 thalassemia community, I know my patients have it far
18 worse than I do. Yet, patients born today are more
19 likely to face complications as early as I did.

20 With hope, they will have better outcomes, but
21 they are not risk free. And we don't know what is

1 coming down the pike for them. More importantly, the
2 ability of a thalassemia patient depends on receiving
3 expert care from experts in thalassemia. These doctors
4 are few and far between, and the foundation estimates
5 that only about half our patients actually receive
6 regular care at a thalassemia treatment center. And
7 even those patients who are treated regularly by the
8 experts still face significant issues.

9 One of the challenges doctors have is that
10 what works for one thalassemia patient may not work for
11 another. And what treatment is working today may cease
12 to work further down the line. It's constant
13 monitoring and evaluation is necessary. Even then,
14 some patients simply do not respond to any of the
15 treatments available. This is why a curative option is
16 so crucial for the thalassemia community.

17 Few people have access to bone marrow
18 transplantation just simply because of lack of a match,
19 and even if they do have a match, some of the risks
20 involved sway our patients to do other things and just
21 to live with thalassemia. This is why the fundamental

1 hopes of this Committee will find that data presented
2 from bluebird bio on gene therapy today will meet your
3 approval and is worthy of your approval.

4 Another curative option would be amazing for
5 us. I thank you for your time and hope that we could
6 learn to live without thalassemia.

7 **MS. CHRISTINA VERT:** Thank you. The next
8 speaker will be Nathan Connell.

9 **DR. NATHAN CONNELL:** Thank you for this
10 opportunity to speak about beta thalassemia and
11 betibeglogene autotemcel. My name is Dr. Nathan
12 Connell, and I'm a hematologist at the Brigham and
13 Women's Hospital and Dana-Farber Cancer Institute in
14 Boston, as well as an associate professor of medicine
15 at Harvard Medical School.

16 My work in systems-based hematology is focused
17 on optimizing care delivery systems for patients with
18 blood disorders, including the cost effectiveness of
19 therapies, and I've been caring for patients with
20 thalassemia of various types for over 10 years
21 including many with transfusion dependent beta

1 thalassemia major or intermedia.

2 Importantly, I have no financial conflicts of
3 interest related to this product, but I do care for a
4 number of patients who may benefit from this therapy or
5 related future therapies in gene therapy and gene
6 editing. While we've made huge advances in therapy,
7 including the use of chelation to manage iron overload,
8 the treatment of thalassemia has relied heavily on
9 hyper transfusion protocols that have not changed in
10 several decades.

11 Patients are tied to their clinical sites and
12 cannot be away for more than a few weeks at a time
13 before returning for transfusion therapy. And while
14 other therapies has been studied to minimize the need
15 for transfusions including splenectomy, TGF beta
16 therapies that do decrease MAB 2, 3 signaling, none of
17 these other than allogeneic transplants have been truly
18 curative. For instance, luspatercept, which we thought
19 was going to be a major step forward, trades one
20 chronic therapy for another.

21 As you've heard from others today, many

1 patients living with thalassemia already report good
2 quality of life. However, the unpredictable nature of
3 the blood supply, particularly given challenges during
4 the COVID pandemic, has created anxiety in those
5 dependent on transfusions to live. While many will
6 choose to continue transfusion therapy even when this
7 is approved, the possibility of transfusion
8 independence with minimal or manageable side effects
9 will be a significant step forward for this population.

10 Even though transfusion independence is the
11 overall goal, just even a reduction in transfusions
12 will result an improved quality of life, reductions in
13 health resource utilization and decreased chelation
14 risks. I have patients, as you've heard, who have to
15 negotiate time off with their employers in order to
16 continue their life-sustaining therapies.

17 Earlier today, Dr. Alexis Thompson presented
18 about the impact of this therapy and what it would mean
19 to those living with thalassemia, and I would like to
20 echo Dr. Thompson's statements. And I agree with her
21 completely. What I would like to convey more than

1 anything else is that increasing the number of
2 treatment options for people living with beta
3 thalassemia and other hemoglobinopathies will increase
4 the quality of the care and quality of life for
5 affected individuals and their families.

6 While this therapy will likely be initially
7 limited to large centers with expansive resources, it
8 will be important to ensure access in a diverse and
9 equitable way, especially given the hemoglobinopathies
10 are prevalent in communities of color and those
11 historically marginalized by the healthcare system.

12 I am in support of the approval of this
13 therapy, which will move the therapeutic field forward,
14 not just for patients living with hemoglobinopathies,
15 but other hematologic disorders such as hemophilia.

16 Thank you for your time today.

17 **MS. CHRISTINA VERT:** Thank you. Our next
18 speaker will be Sarah Baqueri-Connolly.

19 **MS. SARAH BAQUERI-CONNOLLY:** Hi. My name is
20 Sarah Connolly, and I would like to start off by saying
21 thank you to the Food and Administration for allowing

1 me to share a little bit about my daughter Dana.

2 Dana had beta thalassemia major, and she
3 passed away on January 8th, 2015, just a few short
4 weeks before her third birthday. When our daughter was
5 born, we knew that we had a long road ahead of us, but
6 because we were lucky enough to live in a country with
7 such strict rules regarding blood safety and such great
8 access to premium healthcare, we were ready to face
9 this rare genetic blood disorder as informed and
10 mentally prepared as possible.

11 When we first found out about Dana's blood
12 disorder, we were terrified. We were first-time
13 parents and weren't sure if we were ready to take on a
14 special needs child with a fatal blood disease.
15 However, we were lucky enough to be connected with the
16 Cooley's Anemia Foundation, and with their guidance and
17 encouragement, we finally felt ready to take on this
18 blood disorder.

19 We met with so many patients and families. We
20 saw thalassemia patients who were thriving, children
21 who were going to school and participating in sports,

1 graduating college, getting married, starting families
2 of their own. We saw patients in their 50s and 60s,
3 and we were told that if there was ever a time to have
4 thalassemia, it was now. Look at all of the advances.
5 There were oral chelators, clean blood supplies,
6 Centers of Excellence, and honestly, what more could we
7 ask for?

8 We found out the answer to that question less
9 than three years later. On New Year's Day in 2015, my
10 daughter was ringing the new year with her two best
11 friends. The next day, on Friday, she came home from
12 daycare with sniffles. Saturday and Sunday, she laid
13 on the couch watching Frozen on repeat in and out of
14 naps. We knew she was under the weather, but we
15 thought she had a cold and needed to rest. So we let
16 her. She wasn't eating very much. So we made sure
17 that she was taking her oral chelator. We never, ever
18 skipped a dose.

19 By Monday morning, she wasn't getting any
20 better, so we took her to the pediatrician. Before we
21 left, she finally said that she was hungry, and she had

1 asked for apple sauce. And that was the last thing she
2 ever ate. Apple sauce with her chelator. That was
3 also the last time she ever spoke. By the time we got
4 to the pediatrician's, she was starting to lose her
5 ability to focus. We were instructed to rush her to
6 the emergency room because she seemed dehydrated.

7 They said her liver enzymes were high and that
8 she had tested positive for RSV. We said that her
9 liver enzymes had just been checked three weeks prior
10 at her last blood transfusion, but they said they
11 couldn't explain it. We were transferred to Mount
12 Sinai Hospital where a team of 10 to 15 doctors
13 couldn't figure out how her condition deteriorated so
14 quickly, and after two days, she had no brain activity.
15 And we were asked to make the decision to take her off
16 of life support.

17 The reason why I share this story is because
18 Dana didn't have many options for treatment. She had
19 blood transfusions and oral chelators, which we are
20 very grateful for. At the same time, I believe they
21 also played a huge part in her loss. The only curative

1 option that we were offered was a bone marrow
2 transplant, which came with so many risks that we
3 weren't ready to face.

4 Another young patient had passed away at age 3
5 or 4 that October before Dana, and he had had a bone
6 marrow transplant. But his body rejected the
7 procedure. At the time, I couldn't imagine going
8 through the pain those parents went through. Yet,
9 there I was, three months later saying goodbye to my
10 only child at the time.

11 Had we been given the chance to let Dana
12 participate in a gene therapy trial, at the time, I
13 can't say that we would've been opened to experimenting
14 on a two-year-old, but since 2015, we've personally
15 watched friends, who have become family, participate in
16 gene therapy trials and be cured of this painful
17 disease. They no longer need blood transfusions. The
18 iron in their liver and heart are slowly but surely
19 disappearing.

20 The painful side effects that they felt their
21 whole lives may not be completely gone, but it seems

1 that they're on their way. They're finally able to
2 live a life that doesn't revolve around hospital stays,
3 blood draws, and medications. Parents are able to take
4 a breath, a full breath that isn't cautiously held back
5 waiting for the next shoe to drop.

6 I believe gene therapy gives patients and
7 their families hope, a hope that we didn't have, and I
8 pray that our friends and families that we've met over
9 the last 10 years will one day find hope for a cure for
10 thalassemia. Thank you for taking the time to hear my
11 story.

12 **MS. CHRISTINA VERT:** Thank you for sharing.

13 Next speaker will be Androulla Eleftheriou.

14 **DR. ANDROULLA ELEFTHERIOU:** Yes. I'm
15 Androulla Eleftheriou, Executive Director of
16 Thalassemia International Federation, and I have no
17 financial conflict. Honorable chair and dear members,
18 we would like to thank you for providing the
19 Thalassemia International Federation for the
20 opportunity to convey the perspective of hundreds of
21 thousands of patients globally, including other 1,000

1 patients in the U.S.A. on beti-cel drug therapy.
2 Of the global voice of thalassemia patients
3 and their families in 68 countries across the six WHO
4 regions in the world, through 270 national patients
5 associations, TIF feels overwhelming appreciative to
6 the health and scientific communities, the academia and
7 industry who have listened and acknowledged the voice
8 of the heart of thalassemia patients, and their
9 families and having vested time and resources and
10 succeeded despite the many and markable challenges
11 collateralizing a genetic and rare, in most countries,
12 disease in making these long-awaited gene therapy a
13 reality.

14 TIF was established in 1986 initially by a
15 very small group of patient-parent support
16 associations, medical professionals under the guidance
17 of the World Health Organization. We've been wishing
18 for a world in which treatment that would allow a long
19 survival could be available to our patients wherever
20 they may be. The dream for a total holistic cure has
21 been the ultimate goal of all those involved in this

1 fight right from the beginning, especially as
2 allogeneic hematopoietic stem cell transplantation
3 practice in the case of this disorder since the early
4 years with varying success depending on the expertise
5 centers, has limitations both in the context of
6 (inaudible) therapy criteria for success but
7 importantly in the context of numbers as well, who can
8 benefit and which did not surpass 25 percent of the
9 patients.

10 In addition, despite improvements and related
11 match and related haploidentical hemopoietic cell
12 transplantation approaches, there remains a 5 to 20
13 percent transplant related morbidity and mortality
14 risks.

15 Certainly, a final cure still remains a dream
16 for every one of us following a great disappointment
17 last year when we witnessed the devastating
18 developments that led to the withdrawal of the first
19 authorized gene therapy for the treatment of
20 thalassemia from Europe. It is truly unacceptable for
21 kids that such an advanced curative therapy that took

1 decades to develop through rigorous clinical trials,
2 proving safety and effectiveness, and which cumulated
3 in EMMA and European commission authorization was so
4 abruptly discontinued.

5 We as a global organization protecting the
6 rights of patients are fully determined to continue to
7 fight with undivided attention so that this is never
8 repeated and is not met with the same fate in the
9 United States for our patients.

10 Ladies and gentlemen, despite the huge
11 advances that have been achieved in the management of
12 this disorder for in the last three decades, what we
13 refer to as routine care is well beyond a transfusion
14 and drug related approach. It is, in fact, the complex
15 series of everyday lifelong interventions administered
16 for effectiveness and success by a well-coordinated
17 multidisciplinary team of experienced specialists
18 across many medical scientific and technical
19 disciplines.

20 It includes, among others, the development and
21 close networking of specialized expert centers and

1 benefits from the exchange of best practices involving
2 state of art transfusion services and research
3 activities and ongoing continuous education of
4 healthcare professionals as well as the active and
5 meaningful involvement of patients and families.

6 The aim being to meet the lifelong needs of
7 the match organ disorder, we have a huge genetic
8 diversity and consequently, with diverse clinical
9 outcomes. Aiming to achieve a quality of life and full
10 or nearly social integration is today referred to as
11 optimal care, which is sadly applied almost exclusively
12 in very few countries of the western world, with very
13 high rates of morbidity and premature death with the
14 average age not exceeding 20 years in the majority of
15 the low and middle-low-income countries where the other
16 75 percent of patients with this disorder are born and
17 live. Poor quality management is not a characteristic
18 of only this country, since the rarity of this
19 condition in some of the industrialized countries of
20 the world as well may result in many patients receiving
21 an inappropriate level of care.

1 In fact, only a minority of patients under the
2 optimal care of expert reference centers are now the
3 leading examples of what comprehensive care can
4 achieve. A curative approach by a gene therapy has
5 been an enduring dream for more than five decades.

6 Now with every patient envisioning the
7 opportunity to eliminate the huge and lifelong burden
8 of this chronic and debilitating disease, even for
9 those who receive optimal or near optimal care, who
10 dreams for a normal life and hope to have lifelong
11 monthly blood transfusions, frequent hospital visits,
12 daily adherence to chelation treatment -- a challenging
13 and often painful treatment -- and many other essential
14 components of care and monitoring all together invading
15 on an everyday basis, their personal, family,
16 professional life, often not avoiding the development
17 of many and complex medical complications when at the
18 same time the stigma for a chronic genetic disease
19 still exists to a small or large extent.

20 And for a small percentage of patients who
21 cannot, for medical reasons, obtain standard care, gene

1 therapy was and still is the only solution. For those
2 patients living in countries of the developing world,
3 gene therapy, ladies and gentlemen, was and remains a
4 dream for a chance in life.

5 Gene therapy today as it stands and with a
6 reference to beti-cel could make the wishes, dreams,
7 needs, and expectations of our patients in the U.S.A.
8 and beyond come true. This is making -- this is about
9 lifting the huge violation of their life as humans and
10 patients.

11 **MR. MICHAEL KAWCZYNSKI:** Time.

12 **MS. ANDROULLA ELEFTHERIOU:** Even as the --

13 **MR. MICHAEL KAWCZYNSKI:** Please.

14 **MS. ANDROULLA ELEFTHERIOU:** Time.

15 **MR. MICHAEL KAWCZYNSKI:** Please wrap it --

16 **MS. ANDROULLA ELEFTHERIOU:** Yeah.

17 **MR. MICHAEL KAWCZYNSKI:** Yeah. Please wrap it
18 up.

19 **MS. ANDROULLA ELEFTHERIOU:** -- transfusions --
20 yes -- empowerment to every patient to follow the
21 U.S.A.'s footsteps. So making it the right for all and

1 not a privilege for some is where TIF aims. Therefore,
2 we do hope as TIF that FDA will indeed grant this
3 opportunity to our patients. Thanking you, indeed, for
4 giving us the opportunity to express the global
5 patients' perspective.

6 **MS. CHRISTINA VERT:** Thank you. Next speaker
7 is Kate Jones.

8 **MS. KATE JONES:** Hi. My name is Kate Jones,
9 and I'm a parent of a child who participated in Phase 3
10 of the bluebird clinical trial. I'd like to add here
11 that bluebird did pay for our treatment, our housing
12 expenses, and a daily stipend during the treatment time
13 to cover daily expenses.

14 My hope is to give you a glimpse into our
15 world, the world of thalassemia, a disease we thought
16 was incurable, so that you could know how this
17 treatment has impacted our lives. I'm a mom to five
18 children, two who are adopted from China and three who
19 are biological. We keep very busy around here.

20 We were first introduced to the world of
21 thalassemia when we saw a picture of a sickly, pale,

1 16-month-old little girl who was waiting for a family
2 to adopt her. We researched and met others who had
3 children with thalassemia, and we knew we had to be her
4 family. In China, our daughter received blood every
5 two to four months, just barely enough to sustain her
6 life and keep her alive, but not enough to grow or
7 truly live.

8 We knew that there had to be a better life for
9 her with proper medical care and a family. We were
10 prepared for a lifetime of hospitals, doctors, clinics,
11 needles, medications, and blood transfusions. We knew
12 we had lots to learn, but we were committed to giving
13 her the best life that we could. When we brought her
14 home, we did transfusions every two to three weeks and
15 followed the thalassemia standards of care, and she
16 began to grow and thrive.

17 Once we were settled and didn't feel like we
18 were drowning in a sea of medical appointments learning
19 the world of thalassemia, I started following a
20 Facebook page of a female adult who was starting the
21 bluebird clinical trial. I read every single update

1 with nervous excitement and a hope for a better future
2 for those with thalassemia, a world where there is a
3 cure with no graft versus host disease, an option not
4 needing a close relative match.

5 I studied the trial results as much as I
6 could. We then found that our thalassemia center was
7 participating in this clinical trial, and with fear and
8 trepidation, we reached out for more information. We
9 met with the study doctor, our pediatrician, our
10 hematologist, other thal families, and finally came to
11 the conclusion that we were in, and we were ready to do
12 this. We were hopeful that treatment for our daughter
13 would lead to a life free of being tethered to a chair
14 and an IV pull every two to three weeks; a life free of
15 a central line that caused many extra hospital trips
16 with each and every fever; a life with less iron stored
17 in her major organs; a life without transfusion
18 reactions; a life where she doesn't miss school,
19 sports, and things that she looks forward to because of
20 thalassemia.

21 Once we had signed to be part of the study, we

1 flew to California for testing and then again for
2 apheresis. When her cells were ready, we moved our
3 family of seven to California for transplant. Our
4 daughter was one of the youngest patients participating
5 at just five years old when her transplant happened.
6 There were long and hard days watching my child become
7 sick, lose her hair, and not eat for weeks on end, but
8 we still had hope for a bright future that would be
9 transfusion free, a life free of blood that gave her
10 life for so many years, but also the same exact thing
11 that wreaked havoc on her body causing iron overload in
12 her heart, liver, and other organs, also, having to
13 take a medication that ridded her body of that iron but
14 it had nasty side effects.

15 Today, I am now happy to say that she has been
16 transfusion free for two years, two months, two days --
17 excuse me, two years, two months, two weeks, and one
18 day. We celebrate each and every day as she continues
19 to thrive and grow. She is now a happy, healthy second
20 grader who is on a competitive gymnastics team and
21 living a life that we never imagined possible for her.

1 We hope this treatment will become widely
2 available to others with thalassemia, and that it would
3 stretch worldwide to help cure those with thalassemia
4 globally. We are so grateful for this treatment and
5 that we had the opportunity to participate in this
6 trial for her cure. Thank you so much for your time.

7 **MS. CHRISTINA VERT:** Thank you. Next speaker
8 is Radhika Sawh.

9 **MS. RADHIKA SAWH:** Hi. This is Radhika.
10 Thank you for this opportunity. I have no conflicts to
11 report. As someone diagnosed with thalassemia major
12 only days after birth, I know firsthand what it means
13 to live life tethered to an IV pole, forced to make
14 every decision based on my relentless need for blood
15 transfusions in order to simply survive. The promise
16 of gene therapy is that of a life untethered and
17 without limitations for those born with transfusion
18 dependent thalassemia.

19 I began what would become a lifetime of blood
20 transfusions when I was only 18 months old. At first,
21 I received only one unit of blood every few months

1 because of my size. As I got older and continued to
2 grow, my blood requirements increased. By elementary
3 school, I required two units of blood every month. By
4 high school, I needed blood every three weeks. By my
5 mid-20s, I required blood every other week. I am now
6 47 years old, and it is estimated that I received over
7 1,600 units of blood in my lifetime.

8 Being dependent on regular blood transfusions
9 has shaped every aspect of my life from the decision of
10 where we should live to where I could go to college to
11 my choice of career, even my decision to enter into a
12 long-term relationship and start a family. It is
13 incredibly overwhelming to consider how reliant I am on
14 blood transfusions, something which cannot be
15 manufactured but must be given freely by another human
16 being.

17 I am grateful that I live in a country where
18 it is possible to get transfused regularly. However,
19 I'm constantly reminded that the blood supply I so
20 desperately depend on fluctuates, at times reaching
21 critically low levels. During the pandemic, I worried

1 about how I would be able to get blood. I knew that I
2 could only last a few weeks without blood and that the
3 blood banks would need to ration the blood supply to
4 those who urgently required it.

5 Thankfully, I was able to get my blood
6 transfusions without interruption. Yet, many patients
7 in other parts of the country were either given one
8 unit instead of their usual two or had their
9 transfusions delayed by days, sometimes weeks, putting
10 their quality of life and survival in jeopardy.

11 Managing thalassemia involves more than just
12 blood transfusions. Secondary hemochromatosis
13 developed due to the regular blood transfusions
14 necessitating chelation therapy, which comes with its
15 own challenges. Hemochromatosis causes associated
16 comorbidities, thereby requiring specialized
17 surveillance and, if present, treatment. All of this
18 comes with a hefty price tag, placing a heavy financial
19 burden on thalassemia patients.

20 When I was five, my parents were given the
21 opportunity to enroll me in a trial investigating the

1 impact of a subcutaneous chelator on individuals with
2 thalassemia major. They took the calculated risk, and
3 because of that choice, the course of my life changed
4 tremendously. That chelator prevented toxic iron
5 overload from building up in my body due to my frequent
6 blood transfusions and kept me from developing the
7 ensuing comorbidities, which caused the premature death
8 of thalassemia patients before me, including my elder
9 brother and only sibling.

10 Now we stand today at a new precipice with the
11 advent of gene therapy. It is time to allow patients
12 with thalassemia to consider a new opportunity to
13 change the trajectory of their lives. Studies have
14 provided ample data demonstrating the efficacy and
15 safety of gene therapy, and it is time to allow those
16 born with thalassemia to live life unburdened by the
17 constant need for blood transfusions and chelation
18 therapy.

19 Gene therapy has been the dream of those with
20 thalassemia for as long as I can remember. You have it
21 within your power to make this dream a reality. Thank

1 you very much for your time and consideration.

2 **MS. CHRISTINA VERT:** Thank you. Next speaker
3 will be Jennifer Schneiderman.

4 **DR. JENNIFER SCHNEIDERMAN:** Hi. Hello. My
5 name is Jennifer Schneiderman. Thank you so much for
6 this opportunity to speak today. I'm a pediatric
7 hematologist/oncologist, and I specialize in
8 hematopoietic stem cell transplants. I work at Lurie
9 Children's Hospital in Chicago. I'm an associate
10 professor of pediatrics at the Northwestern University
11 Feinberg School of Medicine. I'm also the medical
12 director of our therapeutic apheresis program.

13 In the last year, I have been compensated for
14 participating in an advisory board for bluebird. I
15 have been a transplant physician since 2007, and in
16 this role of taking care of many patients with beta
17 thalassemia major who sought cure for their disease
18 through allogeneic transplants -- and when I think
19 back, there have been patients who have done well and
20 remained transfusion free without too many bumps in the
21 road.

1 I have also had the honor of taking care of
2 many of the brave patients who have participated on the
3 bluebird clinical trial since 2014. While neither of
4 these approaches are without risk, patients who undergo
5 gene therapy, as you have heard, do not have the burden
6 of searching for a donor, and they don't have the risks
7 of graft versus host disease after transplant.

8 Having seen patients unable to receive an
9 allogeneic transplant who go on to continue to receive
10 regular transfusions and experience iron overload and
11 patients undergoing regular allogeneic transplants, I
12 can give real-life examples of 20-year-olds who die
13 suddenly from cardiac failure due to iron overload and
14 children suffering from severe graft versus host
15 disease after their allotransplants who have been in
16 the hospital sometimes for well over 200 days, many of
17 whom -- with their acute graft versus host disease,
18 many of whom suffer long-term sequela.

19 The availability of gene therapy gives
20 patients, their hematologists, and the patients'
21 families discretion to weight the risks and potential

1 benefits and broadens their options and opportunities
2 for cure should they choose to pursue it using that
3 pathway. Thank you very much for your time.

4 **MS. CHRISTINA VERT:** Thank you very much.

5 That was the last speaker. So this concludes the open
6 public hearing, and I will now pass the meeting back
7 over to Dr. Butterfield.

8 **DR. LISA BUTTERFIELD:** Terrific. I really
9 want to thank all of the participants of the open
10 public hearing, particularly the patients, patients'
11 families, the clinicians treating these patients.
12 These are all very powerful stories that are very
13 helpful.

14 Before we go on to session 5, the discussion
15 and voting, we've had a request from bluebird bio for a
16 quick one or two minute opportunity to respond and
17 provide some clarification to one of the questions from
18 the patient representative. Please, Bluebird.

19 **DR. RICH COLVIN:** Yes. Thank you, Dr.
20 Butterfield. This is a question that Dr. Singh and Dr.
21 Trieu had asked before. Can we pull up the slide on

1 the screen, please? It was about, again, thinking
2 about those four patients who did not become
3 transfusion independent. I just want to start out by
4 saying we've learned a lot starting with Phase 1 and 2
5 through Phase 3.

6 Over the course of that time, we believe that
7 we've made it more likely that patients will become
8 transfusion independent as we learn more. In Phase 3
9 studies, we learned about the level of transduced cells
10 that would be required in order for a patient to likely
11 become transfusion independent. You can see by the
12 dotted line -- all those dots on the right of that
13 dotted line, that's 31 patients, all of whom became
14 transfusion independent.

15 So a hundred percent of those patients who had
16 above that level of transduced cells, they become
17 transfusion independent. So, right now, we're working
18 with the FDA to come up with release specifications so
19 that it becomes highly likely that patients who get
20 treated with beti-cel will become transfusion
21 independent and improve upon that 90 percent rate that

1 we already have. Thank you. Thank you for that time.

2

3 **SESSION 5: BETA-THALASSEMIA DISCUSSION AND VOTING**

4

5 **DR. LISA BUTTERFIELD:** Thank you for that
6 clarification and showing us those data again. So, we
7 are now close to the top of the hour. And what's next
8 is the Session 5: beta-thalassemia Discussion and
9 Voting. So, what's going to happen now is I will read
10 a series of four questions in turn. And for each of
11 these questions we have a discussant who will begin our
12 discussion with some initial thoughts. So we really
13 encourage all of our members -- all of our Committee
14 members and temporary Committee members to participate
15 so that we can have a full discussion of everything
16 we've read and everything we've heard today.

17 So, here's Question One: "Hematologic
18 malignancies have not occurred in transfusion-dependent
19 beta-thalassemia (TDT) subjects treated with beti-cel.
20 However, the beti-cel lentiviral vector is similar to
21 the vector used in sickle cell disease and is related

1 to the vector used for the CALD that we were speaking
2 about yesterday, and there have been cases of
3 hematologic malignancies in both the sickle cell and
4 the CALD patients in other studies.

5 "In this setting, what is the likelihood that
6 the constellation of delayed platelet reconstitution,
7 abnormal bone marrow morphology findings, and insertion
8 site analyses will predict future development of heme
9 malignancies in the beta-thalassemia patients treated
10 with beti-cel?"

11 And so, that's the first question that we're
12 going to discuss. And to start us off, please, Dr.
13 DiPersio.

14 **DR. JOHN DIPERSIO:** Okay. Thanks, Dr.
15 Butterfield. So, the major issue here is the -- number
16 one, the association between what we saw with CALD
17 patients versus thalassemia or sickle cell patients.
18 And I think we discussed this at length yesterday, and
19 I'll just reiterate my thoughts, which haven't changed
20 at all. And that is that the pathways for developing
21 these malignancies seem quite different in some

1 regards.

2 Obviously, there's much more of a smoking gun
3 with insertional mutagenesis with the TLD patients, and
4 for the sickle cell patients and obviously for the thal
5 patients where there hasn't been any, there's no
6 evidence of that. That's number one.

7 Number two, the kinetics, morphology,
8 cytogenetics mutational analyses are consistent with
9 treatment related or busulfan related disease in sickle
10 cell patients and probably occurring with a higher
11 frequency because of the stress marrow issue and the
12 chronic inflammation that occurs in sickle cell
13 patients. And that is not as an obvious situation in
14 the thalassemia patients.

15 So, I don't think that there is any link
16 between those two -- between the CLVV patients and the
17 sickle cell patients. Now, the constitution of
18 symptoms in -- the constitution of delayed platelet
19 reconstitution, some very subtle morphology findings,
20 very subtle.

21 Questionable cytogenetic abnormalities in the

1 thalassemia patients is something that has not resulted
2 in any clinical impact. So, for instance, I don't see
3 -- even though the platelet counts are still low and I
4 still -- and for most patients -- some of the patients
5 are delayed -- and there's a few patients that have not
6 completely recovered -- I still don't think that this
7 is for the most part clinically significant. And so,
8 even though platelet recoveries are slow, neutrophil
9 recoveries are slow, I don't think that they're
10 clinically significant.

11 And I'm not sure if this is related to the
12 spleen issue or not. I would suggest that one other --
13 there's another very important possibility. And that
14 is that we know exactly what the impact of stem cell
15 numbers are on engraftment. And stem cell numbers are
16 very important, especially for platelet engraftment.

17 And in a normal marrow situation or an
18 autologous transplant setting we use these numbers that
19 we've gotten from historical data that 5 times 10 to
20 the sixth CD34 cells provide rapid and consistent
21 platelet engraftment in most patients, which is the

1 case. But in these patients, it's a little bit
2 different because, number one, they have a spleen,
3 which was touted as the main problem. But I'm not sure
4 I agree with that.

5 The other issue is that you're actually ex-
6 vivo manipulating stem cells. You're expanding them.
7 By definition, the stem cell -- multi-potential
8 properties of these cells has changed once you do that.
9 And we know that if we do it for too long a period of
10 time, they have no function -- in mouse models, at
11 least. So I'm thinking that we need to do -- or they
12 need to do a little bit better job categorizing or
13 describing some of the flow characteristics of these
14 products before they go in.

15 So, one of the issues that was just brought up
16 just a minute ago was the incident -- the issue of
17 transduction efficiency. So, I would argue that that
18 may be the reason why some people haven't become
19 transfusion independent. But another possibility is
20 that the frequency of the primitive stem cell
21 populations in these manipulated products is

1 exceedingly low. And in fact, instead of needing 5
2 times 10 to the sixth CD34 per kilogram to get rapid
3 platelet recovery, in these patients that get
4 manipulated products it might be more like 10 or 15
5 because of the losses in the normal differentiation of
6 these stem cells.

7 So, I would say that there's nothing clear
8 about what's happening here except that I think we
9 ought to not take our eye off the ball, that the
10 product itself and the process itself may be
11 diminishing stem cell numbers. It may not be related
12 so much to transduction efficiency but to the total
13 number of immunophenotypically defined primitive stem
14 cell populations that the patients are getting infused
15 with.

16 And as far as the issue of leukemia
17 recurrence, I think that there needs to be a better,
18 proactive approach to looking at mutations in these
19 patients, before and during and in the follow-up
20 period. And we asked a number of questions; I still
21 am not sure I understand the answers yet. But I guess

1 that they have not looked in most of these patients.
2 And my recommendation would be, especially in
3 the thal patients which have some low blood counts and
4 certainly in the sickle cell patients, that this be
5 done proactively and prospectively in the next -- for
6 the next few years at various time points so we can
7 track not only insertion site analyses and integration
8 site stuff but also the presence or absence of clonal
9 hematopoiesis and the presence or absence of subclones
10 that we can identify by routine sequencing panels or by
11 more sensitive error corrected sequencing panels so
12 that we really know what's happening here, especially
13 in this group of patients where the malignancies are
14 more treatment related as opposed to insertional
15 mutagenesis -- insertional oncogenesis related. I'll
16 stop there.

17 **DR. LISA BUTTERFIELD:** Super. Thank you very
18 much. That was really helpful. And you also touched
19 on some things I think that help with Question Four in
20 terms of following the patients going forward. So, for
21 continuing to discuss Question One I'm watching for

1 raised hands. So, let's go to Dr. M, please. And then
2 we'll carry on from there. Thank you.

3 **DR. JAROSLAW MACIEJEWSKI:** Can you guys hear
4 me?

5 **DR. LISA BUTTERFIELD:** Yes.

6 **DR. JAROSLAW MACIEJEWSKI:** Sorry for lack of
7 trust. But there was a lot of technical things, and I
8 am still afraid of --

9 **DR. LISA BUTTERFIELD:** Yes.

10 **DR. JAROSLAW MACIEJEWSKI:** -- IT manager, who
11 is very tough. In any event, we are the centers that
12 sees most of the aplastic anemia and other bone modal
13 failures due to other causes in United State as a
14 single center. And consults for single lineage
15 cytopenia not complete recovery after autologous
16 transplant or after chemotherapy are quite common.

17 And there are two things: is the cytopenia
18 indicating ongoing process -- the single lineage
19 persistent thrombocytopenia, or is it just a scar? And
20 we have to accept and -- you know, that despite looking
21 for everything -- I mean, you know, in certain cases

1 the confusion has to be is this a scar? And it's just
2 not going to be -- going to be (inaudible) ever, you
3 know. And it's going to linger and maybe in moments of
4 increased usage.

5 It's sort of like slalom skier who breaks his
6 leg, he might be skiing but he is not going to be a
7 gold medalist anymore in this particular realm. So,
8 this is one -- an important issue that I wanted to
9 mention to everybody.

10 And the second point is the sequencing. And
11 here's a -- the pathologist who spoke on behalf of the
12 company mentioned that it was not needed to order the
13 FISH. The truth is, cytogenetics is approximately two
14 metaphases, which is approximately 10 percent
15 sensitivity, 20 percent sensitivity. FISH has much
16 greater sensitivity. Patient has cytopenia that is a
17 concern. To order FISH is totally reasonable. One has
18 just to know that anything below six percent is normal
19 or whatever it is -- the cutoff value -- particularly
20 for the deletions.

21 So, I agree with John that the

1 pharmacovigilance or the follow-up should include NGS
2 panel for driver mutations if there is a concern. And
3 everybody is talking about the concern of clonal level
4 issue in these patients. This would be the way to go
5 potentially, even doing this type of assay on the
6 harvested cells. It doesn't take much DNA, and it
7 would, of course, be of a tremendous scientific
8 importance to establish this. Thank you.

9 **DR. LISA BUTTERFIELD:** Terrific. Thank you,
10 very much. Okay. Next, we'll hear from Dr. Gordeuk
11 and then Dr. Ott.

12 **DR. VICTOR GORDEUK:** Yeah. I'd just like to
13 emphasize this matter -- this kind of general
14 observation of delayed platelet reconstitution. Again,
15 it's really hard to say if it really is delayed on the
16 average. And in the case of sickle cell disease, if
17 somebody has a low platelet count, I immediately see if
18 they have SC disease and splenomegaly. And then their
19 platelet counts are easily baselined below 100,000.
20 So, I don't think that just saying that this patient
21 didn't achieve the pre-transplant platelet count really

1 defines some problem with bone marrow. I'm done.

2 **DR. LISA BUTTERFIELD:** Thank you. Dr. Ott.

3 **DR. MELANIE OTT:** Yes. Hello. I just wanted

4 to support what Dr. DiPersio just said about the

5 potential toxicity of the -- of the manipulation of the

6 drug product and the transduction -- the weighing

7 between toxicity and the transduction efficiency.

8 There's no doubt that if you use a high MOI of a

9 lentiviral vector to achieve higher transduction

10 efficiency that this is toxic to especially vulnerable

11 cells in the population.

12 So, I'm really glad to hear that bluebird and

13 the FDA are working together to actually balance these

14 two effects, one which could cause or could be

15 supporting the late platelet reconstitution and the

16 other on that is, of course, enhancing efficacy and

17 success of the product.

18 But I think this is a critical issue that I

19 think needs to be carefully looked upon and regulated

20 in the future. Thank you.

21 **DR. LISA BUTTERFIELD:** Thanks very much. So,

1 do we have any other thoughts on Discussion Question
2 One? I can summarize some things I've heard so far.
3 So, I'm looking at my computer. So, the question,
4 again, what is the likelihood that the -- some of the
5 constellation of delayed reconstitution, abnormal bone
6 marrow morphology insertion site will predict future
7 heme malignancies?

8 So, we've heard that it's really -- and this
9 reiterates things that we talked about yesterday.
10 Different disease states, different vectors, and the
11 lack of evidence of insertional mutagenesis to date
12 makes this less of a concern, that the adverse events
13 are more consistent with expected AE's in this disease
14 state. The clinical significance of delayed
15 reconstitution isn't totally clear. The spleen role
16 isn't clear.

17 The transduction efficiency may be really
18 critical. The primitive stem cells in the product may
19 be critical. Some cytopenias may be long-term side
20 effects. So, those are some things that I heard.

21 And then really, perhaps more relating to

1 Question Four, recommendations for specific testing
2 which we'll go on to in more detail in a few other
3 questions. But tracking the importance of percent
4 transduction efficiency, tracking the insertion
5 integration sites, clonal hematopoiesis in subclones
6 and primitive stem cells should be tracked, NGS for
7 driver mutations, and consideration of FISH for its
8 greater sensitivity.

9 So, those are some things to help continue to
10 track the safety of this product in the future and
11 address the potential development of heme malignancies.
12 Anything to add or shall we move to Question Two? All
13 right. We'll move to Question Two, please.

14 So, Question Two: "Please discuss whether
15 patients with TDT should be screened for potential
16 germline and somatic mutations predisposing to heme
17 malignancy prior to administration of beti-cel. What
18 screening tests, if any, for such mutations would you
19 recommend?" And so, again, we'll turn to Dr. DiPersio
20 for the initial discussion.

21 **DR. JOHN DIPERSIO:** So, I don't recommend -- I

1 wouldn't recommend screening for germline mutations.
2 The issue there, of course, is if you find a germline
3 variant of DDX41 or something like this and someone has
4 a horrible case of thalassemia, are you going to not
5 perform gene therapy on that patient without any
6 evidence that this may result in any kind of clinical
7 scenario which is worse than expected for someone that
8 age in the general population? I just think you can't
9 do that.

10 However, I do think that a much more rigorous
11 prospective proactive approach to not only looking at
12 integration site analyses, which they are really
13 fixated on, but on the evolution and expansion of
14 subclones that can be measured by regular next
15 generation sequencing. And it would probably have to
16 be a sensitive enough panel to pick up mutations the
17 level of 0.2 to 0.5 percent. So, I still think most of
18 the general sequencing panels are not going to be
19 sensitive enough to track these clones.

20 So, that would be one thing that I would
21 recommend. But I would not recommend germline

1 screening because we certainly have no evidence now
2 that, even though there's a slightly increased rate of
3 hematologic malignancies in sickle cell anemia, for
4 instance, there's no evidence that those patients have
5 increased incidence of variants involving the 150 or so
6 genes that we think may be involved in inherited
7 predisposition to either MDS inherited
8 thrombocytopenias or AML.

9 And so, I think that's -- I think that's all I
10 would say at this point. And I think they need to be
11 just a little bit more broad-based and less looking
12 under the lamp post and considering sort of the common
13 things that result in treatment related MDS and AML
14 which may be accelerated by this process.

15 **DR. LISA BUTTERFIELD:** Great. Thank you very
16 much -- and for some of those specifics. And so, let's
17 go to Dr. Coffin, please.

18 **DR. JOHN COFFIN:** Yeah, sorry. I agree with
19 that perspective completely. With the addition that I
20 -- a post-hoc analysis in the case of where there is
21 something that's worth looking at, a search for

1 germline mutations could be made at that point to see
2 if there are things that are likely to be associated
3 with a bad outcome seen.

4 But in terms -- in -- since the issue of
5 looking at integration sites was raised here, I -- yes,
6 they emphasized it a lot, but they don't analyze it
7 very well. And that really is annoying to me. The
8 frequency of things that you see as frequent gene hits,
9 for example -- they mentioned VAMP14 in this particular
10 context -- is pretty much meaningless unless you know
11 what you started with. Is this a -- different genes
12 vary tremendously in their ability to serve as
13 integration targets in in-vitro integrations as is done
14 here.

15 And it would not cost them very much to get
16 baseline information in the sense of taking a very
17 small sample, probably a few hundred thousand cells
18 worth, which is a very tiny fraction of the cells that
19 they're doing, and do integration site analysis on that
20 before they do the transplant and then see what --
21 whether the frequencies of specific gene hits have been

1 increased relative to what they start with. Otherwise,
2 it's basically uninterpretable.

3 So, all of those integrations -- I found that
4 quite impressive, the integration of the VAMP14. But
5 it's based on the analysis of our data which may --
6 which are likely to be very comparable to what they
7 would see if they did the experiment. But they're not
8 exactly the same. They might be different. And in our
9 case, VAMP14 is a very poor target. It's about number
10 3,000. If you list all the genes by their quality of
11 the number -- the number of hits we saw in the in-vitro
12 integration experiment, VAMP14 is about 3,500. There
13 are 3,400 and something genes that are better targets,
14 that yield more integration sites than that one.

15 And therefore, seeing that in the numbers of
16 integration sites that they looked at -- which we don't
17 know because they couldn't answer that question
18 yesterday and I would assume they couldn't answer that
19 question today -- but that's not -- that would suggest
20 that there has been some selection for that. That
21 doesn't -- being selected for it, however, does not

1 necessarily mean that this is an oncogenic process.

2 There are other bases -- there have been
3 reported other bases on which gene specific
4 integrations might be selected in a context like this
5 that have to do with ability to cells to engraftment
6 and things like that improving and some other factors
7 that aren't well understood but almost certainly are
8 not oncogenic related in terms of selecting for
9 integrations in certain cells at the point of
10 transplant.

11 And so, these experiments really need to be
12 done by them in a way that are more interpretable to --
13 in terms of what's really going on here than we've been
14 able to get so far.

15 **DR. LISA BUTTERFIELD:** All right. Thank you.

16 Thank you, Dr. Coffin. And then, Dr. Shah.

17 **DR. NIRALI SHAH:** Yes. I agree with what the
18 others have said. I think the one thing that I wanted
19 to add, aside from the germline and somatic mutations,
20 I do like the idea of getting the baseline bone
21 marrows. I think the data that was presented is that

1 we really do not know a lot about the bone marrow
2 architecture at baseline in the thalassemia population.
3 And by doing the baseline that they did it allowed a
4 little bit of information. So I do think that that
5 ends up being important, particularly as these patients
6 are hopefully going to be cured of their underlying
7 disease.

8 And I think that if there are patient who have
9 prolonged thrombocytopenia that there are certain time
10 points where a subsequent bone marrow evaluation would
11 be done. And I would recommend standard cytogenetics
12 as part of that evaluation both at baseline and at
13 follow-up.

14 **DR. LISA BUTTERFIELD:** Thank you. Thank you
15 for that addition. So, let's see. So, I've got
16 something in the chat. So -- yeah, so if we want,
17 bluebird bio has looked at correlation between drug
18 product attributes and delayed platelet engraftment if
19 we want to learn more from the sponsor.

20 For Question Two, are there any other comments
21 about screening tests, or shall I sum up what we've

1 presented so far? Okay. So what I've heard so far for
2 Question Two is that there isn't a recommendation for
3 potential germline somatic mutations predisposing to
4 heme malignancy prior to administration, that that
5 would have unclear importance relative to the disease
6 itself and that that would be -- that sort of analysis
7 would be more of a follow-up for adverse events
8 suggestive of a role for germline predisposition.

9 That in addition to integration site, NGS for
10 subclone analysis at a sensitivity of 0.2 to 0.5
11 percent is suggested and better analysis of the
12 baseline cells for integration site analysis before
13 transplant. And also, baseline bone marrow and
14 cytogenetics before and after treatment would also
15 potentially add very useful data going forward.

16 So, that's what I heard about screening
17 assays. Looking for hands if there's anything to add
18 before we go on to Question Three. So, not seeing
19 additional hands for additional comments. Let's move
20 on to Question Three.

21 "Please discuss the adequacy of the proposed

1 post-market pharmacovigilance program, including the
2 long-term follow-up study and registry study and
3 discuss additional recommendations for safety
4 monitoring for hematologic malignancies." And here,
5 we'll ask Dr. M to begin the discussion.

6 **MR. MICHAEL KAWCZYNSKI:** Could you tip your
7 camera down, Dr. M? Thank you.

8 **DR. JAROSLAW MACIEJEWSKI:** Okay. Yes, yes,
9 yes. Well, I mean, this is the same question that we
10 discussed essentially in Question one and two (audio
11 skip) to it. You know, there are two purposes for
12 monitoring and pharmacovigilance. If we are worried
13 about evolution of tonal disease following counts,
14 looking whether patient is microcytic, develop new
15 cytopenias or worsen existing cytopenias with and maybe
16 at less frequent intervals, next generation sequencing
17 would be important.

18 However, it's not that early detection of
19 evolution would change anything, it might inform
20 administration of this product to new patients if there
21 would be sadly, at certain point, increased frequency

1 of this type of event. So, you know, in hematologic
2 disease, like (inaudible) early intervention plays very
3 little role in terms of the outcome of the treatment.
4 So, it's important, but, you know, I mean it will not -
5 - it would not (audio skip) with the patient.

6 However, I think that defining the bone marrow
7 at the beginning is also very questionable. Because
8 what is a baseline? Is a baseline before the
9 transplant, or is the baseline after transplant? If
10 the baseline is after transplant, when is it, right?
11 Is it one month, two weeks? Some bone marrow failures
12 the counts can recover and the bone marrow biopsy, of
13 course, is done in one small place. And
14 hyperosmolarity, for instance, is not really reflective
15 of the bone marrow function.

16 In fact, one would think that the blood output
17 production is better reflective of the bone marrow
18 assay as an organ rather than a single site biopsy.
19 One could do two weighted images and see how much is
20 bone marrow upon recovery. But this would be more
21 recent question rather than pharmacovigilance. In

1 terms of the other things such as integration and so
2 on, I think we discussed it in -- on the other
3 occasions. These are reasonable things to do.

4 It just, you know, in many ways, the
5 pharmacovigilance is not going to alleviate any risk.
6 We have to be aware of it. It might alleviate and
7 inform subsequent steps in terms of redesigning the
8 transplant strategy, et cetera. But I think that in
9 addition to the proposed counts, which are a sort of no
10 brainer, the only thing I would add the NGS and maybe
11 viral integration site assay.

12 **DR. LISA BUTTERFIELD:** Okay. Thank you very
13 much. So, other Committee members who want to weigh in
14 here on Question Three? We have had fair amount of
15 discussion around this, as Dr. M points out. Okay.
16 So, I think I'm going to call on -- okay. So I'll
17 circle back to bluebird. Dr. Shah, perhaps this is in
18 the same theme of what we're talking about. And then
19 Dr. M again. And then we'll hear from the sponsor on a
20 particular point. Dr. Shah. We can't hear you.

21 **DR. NIRALI SHAH:** Can you hear me now?

1 **DR. LISA BUTTERFIELD:** Yes.

2 **DR. NIRALI SHAH:** Perfect. So this might
3 actually be a discussion as it relates -- so just in
4 follow-up to Dr. M's comments. I do think that they
5 can use some of their primary endpoints as it relates
6 to neutrophilic engraftment and platelet engraftment.
7 And if they don't achieve that, that that would be a
8 timepoint to do a follow-up bone marrow to at least
9 look at the cellularity.

10 So, the one question I did have -- and I don't
11 know if they can come back or not -- but it seems like
12 they probably got a pretty good collection up front.
13 Has there been the thought that if patients do have
14 hypocellular marrow that they would get a stem cell
15 boost? Or has that been a consideration, or has that
16 ever been needed? It was not reported, so I don't
17 think that's happened. But are there remaining cells
18 that are non-transduced that are left over?

19 **DR. LISA BUTTERFIELD:** Okay. I'll refer that
20 then to bluebird. So why don't we bring them back to
21 address that specific question and then another

1 question that came up.

2 **DR. RICH COLVIN:** Great. Thank you. First,
3 I'm going to turn it over to Dr. Seth Pollard
4 (phonetic) to talk about some of the questions.

5 **DR. SETH POLLARD:** Hello. During the question
6 period quite a few issues have come up around the drug
7 product and the cell dose. And I just want to point
8 out that as head of analytics, in my analytics group
9 we've done a lot of work to try to understand what
10 product attributes are responsible for outcomes,
11 including engraftment.

12 So, can I have slide one? So, as was pointed
13 out, five million per kg is the standard minimum dose.
14 Oh, wait, it's coming. And we've actually infused many
15 patients, you know, up to 15, some 20, one even 40
16 million cells per kg. We'll wait until the slides come
17 up. Oh, yeah. They're coming.

18 **DR. LISA BUTTERFIELD:** There for a moment.

19 **DR. SETH POLLARD:** Sneak peek.

20 **DR. LISA BUTTERFIELD:** Yeah.

21 **DR. SETH POLLARD:** All right. There we go.

1 And so, looking at neutrophil and platelet engraftment
2 we don't really -- really just don't see a correlation
3 between dose and platelet engraftment.

4 So, if the hypothesis was that our
5 manufacturing process -- which is designed to be very
6 rapid and basically has no cell expansion as a part of
7 it, is designed to preserve stem notes -- if that was
8 the case, if we were damaging the cells, then you would
9 see at the low end of the cell dose there would be an
10 association with long, prolonged time. And we just
11 don't see it. I mean, some of our fastest engrafting
12 drug products had very low dose.

13 We took it a step further because this is
14 something I'm really interested in. How does product
15 impact dose? And we multiplied it by our colony
16 forming assay to either look at percent colony forming
17 cells or colony forming dose. And again, we don't have
18 that slide here. We can provide it. But again, no
19 association.

20 So we went further. Slide two, please.
21 Phenotyping was brought up. Flow-based phenotyping is

1 really limited for hemopoietic stem cell products
2 because there's just so many markers and we have
3 cytokinetic culture which changes marker expression.
4 So we actually put all the markers together into one
5 massive CyTOF panel. Basically, you name your favorite
6 hemopoietic marker, it's probably in there.

7 And we profiled every single beti-cel and eli-
8 cel product that has been infused. And what we see is
9 that there is a lot of patient to patient variability.
10 You know, you can look at the HSC compartments. We
11 tried modeling things like HSC dose and not just simple
12 models but more extensive models. We just don't see an
13 association between phenotype and time to engraftment.

14 And then finally, the issue on doing drug
15 product ISA. So, ISA is a destructive technique. So
16 if you're going to sample cells for ISA, you're going
17 to sample, let's say, one million cells out of the 500
18 million that would be infused. And by definition, you
19 have removed those clones that you find by ISA out of
20 the drug product.

21 Also, as I mentioned, because our

1 manufacturing process is very rapid and preserves
2 stemness, there's really no clonality to our drug
3 product cell. So, if you do ISA on drug product, which
4 we've done in pre-clinical work, you see a ton of
5 integration sites. But those would not translate to
6 the integration sites that are in the rarest subset of
7 cells within our product that actually engraft.

8 So, given that, doing the ISA on drug products
9 is really a futile effort because it doesn't tell you
10 about the ISA that will come up in the patients. Thank
11 you.

12 **DR. LISA BUTTERFIELD:** All right. Thank you.

13 **DR. RICH COLVIN:** And in response to Dr. Shah

14 --

15 **DR. LISA BUTTERFIELD:** Yes.

16 **DR. RICH COLVIN:** Excuse me, Dr. Butterfield.
17 Yes.

18 **DR. LISA BUTTERFIELD:** Please. No, please go
19 ahead.

20 **DR. RICH COLVIN:** Okay. Thank you. And with
21 respect to Dr. Shah's question. We haven't used any

1 stem cell boosts. Main reason is that all the patients
2 have engrafted. And secondly, that if we did a stem
3 cell boost then those cells would not have been
4 corrected or having an introduced transgene into them.

5 **DR. LISA BUTTERFIELD:** But the cells are --
6 but there are some of those cells in existence, just to
7 complete the question?

8 **DR. RICH COLVIN:** We have rescue cells in case
9 patients do not engraft. But those cells have not been
10 transduced. Those are the baseline cells that were
11 collected at the time of apheresis.

12 **DR. LISA BUTTERFIELD:** Thank you. Okay.
13 Let's go back to the Committee. Dr. M, Dr. Coffin, and
14 Dr. Ahsan.

15 **DR. JAROSLAW MACIEJEWSKI:** I think, you know,
16 I mean very beautiful data in terms of the composition
17 of the infused cells. I think it might be very
18 important in terms of, let's say, a (inaudible)
19 precursors that underrepresented in people who have
20 subsequent thrombocytopenia.

21 I think if we are worrying about clonal

1 evolution and you want to establish the causative
2 relationship between your manipulation or excluded, if
3 you do mention sequencing for driver mutations on the
4 harvested product -- before transaction or after
5 transaction doesn't matter. This would, of course,
6 will allow you then, should you have a positive event
7 later on to assume that this clone has been already in
8 a patient before, excepting, you know, sensitivity of
9 course. But anything is -- has their limitations. And
10 conversely, you could say that the clonality and the
11 driver mutation detected later was a result of, let's
12 say, conditioning regimen.

13 I think this would be a good thing to
14 recommend. Whether FISH would be another thing to do,
15 I don't know. I agree with the pathologist from
16 Harvard that this is not an useful test. But
17 particularly there is high risk of -- high level of
18 suspicion and one would save the patient from doing the
19 bone marrow and the cytogenetics, the FISH is totally
20 reasonable for the most common chromosomal
21 abnormalities.

1 **DR. LISA BUTTERFIELD:** All right. Thank you.

2 Dr. Coffin and then Dr. Ahsan.

3 **DR. JOHN COFFIN:** Yeah. Hi. Just wanted to
4 respond to the response to my comment about the pre-
5 implantation analysis of integration site distribution.

6 While it's true that the cells that you take
7 for such analysis would be gone from the site, it's not
8 true that that's -- that doesn't mean they're not
9 representative of what you implanted. In 100 million
10 cells, which is sort of a minimal number of the number
11 of cells they implanted, there will be 100 million
12 proviruses. The numbers they showed shows that their
13 cutoff is going to be approximately one provirus per
14 cell on average. And given that, any decent (audio
15 skip) will be represented many, many, many times in the
16 population. I strongly recommend the bluebird people
17 read our papers on this topic, actually.

18 And so, when they're talking about seeing a
19 lot of integrations in VAMP14 again, I don't know if
20 that means that there were that many integrations to
21 begin with in that particular gene. Even though the

1 specific ones might have been lost, there will still be
2 lots of others -- there will still be lots of others in
3 that gene. Even if the gene is not a terribly good
4 target, there will still be quite a few. In 200,000
5 sites we saw 12, for example. And they'll --- they can
6 look at -- they can look at that many easily in a -- in
7 quite -- really what's quite a small fraction of the
8 total cell population.

9 So, the ability to interpret just the number
10 of integrations that they see is very, very limited if
11 they look at what is the product of likely to be
12 various kinds of selection afterwards. And it doesn't
13 mean anything unless you can interpret it in terms of
14 what the frequency of integrations in the starting --
15 in that particular gene in the starting pool is.

16 And they will not have removed all of the
17 integrations in any given gene by a long shot by taking
18 a small sample for analysis. So, my recommendation
19 strongly stands in this.

20 **DR. LISA BUTTERFIELD:** Thank you for that --
21 for the further detail there. Dr. Ahsan and then Dr.

1 DiPersio.

2 **DR. TABASSUM AHSAN:** Thanks. Yeah, I wanted
3 to speak a little bit more about the sponsor's data on
4 the phenotyping. So, I think what's come about and has
5 been consistent throughout the comments is that small
6 sub-populations are being over-represented in terms of
7 the impact of the drug product once it goes in-vivo.
8 And so, taking those large categories and assessing
9 phenotype that way is not sufficient. We really need
10 to look at the smallest populations.

11 And then to build on what Dr. Ott said about
12 lentivirus, especially when you do things at high MOI,
13 have effects on these cells. As you admit, you have a
14 very rapid manufacturing process. So, I think it's
15 really important as you move forward that you also
16 evaluate the cell's health of these small sub-
17 populations immediately post-(inaudible) formulation,
18 let's say.

19 Because we do need to understand what is --
20 what's the state of the cell when they're going into
21 the patient. And I don't think that the phenotypic

1 analysis that you provided is sufficient because it's
2 very broad, and what we know is that there's over
3 representation at later time points.

4 So, I think that that's going to be a key
5 thing to evaluate over time as you start increasing the
6 number of patients that are being treated with this to
7 really have a deeper understanding of the drug product
8 so that we can understand the risk. Again, right,
9 we're -- I don't think what was echoed in question
10 number two and the rest of it, which is we don't have
11 enough information to screen, but we do start having to
12 build it -- that data, have a deeper understanding of
13 the mechanism so that then we could screen, if
14 necessary.

15 **DR. LISA BUTTERFIELD:** Thank you. And then I
16 think to close out our discussion Question Three, Dr.
17 DiPersio.

18 **DR. JOHN DIPERSIO:** I was reassured by the
19 immunophenotyping data you showed. Thank you very much
20 for that. That's great.

21 With all due respect though, I still think

1 that there are some clear examples using really, let's
2 say, mouse models where stem cells are taken out, and
3 then they're expanded. And they're competed directly
4 against unexpanded stem cells. And there is a
5 difference. Depends upon how long you expand them and
6 how you expand them.

7 So, there's no doubt that your product is
8 different than a fresh product. And so, I would be
9 interested also to know what is the immunophenotyping
10 look like before and after expansion and genetic
11 manipulation. Are there any smoking guns there that
12 you're seeing that might explain some of these few
13 patients that have slow platelet engraftment? That's
14 my only point. I'm done.

15 **DR. LISA BUTTERFIELD:** Thank you. Okay. So,
16 thinking about Question Three, I think we had a
17 diversity of opinion of things that -- of assays that
18 might be done in the post-marketing pharmacovigilance
19 program.

20 We heard pluses and minuses about bone marrow
21 analysis, detailed phenotyping, the need to include

1 more rare subclones in that detailed phenotyping,
2 looking at differences between original and expanded
3 products and the baseline -- the baseline fills before
4 transduction and after.

5 So, a lot of potential things to look at. I'm
6 not sure I heard a lot of firm agreement over
7 particular tests, although some of the phenotyping that
8 was shown by the sponsor was certainly appreciated.

9 So, let's move to final Question Four.

10 "Please discuss recommendations for specific testing
11 for heme malignancies following administration of beti-
12 cel, to include frequency of testing in the patients
13 with transfusion dependent beta-thalassemia." And so,
14 here was start with Dr. M, please.

15 **DR. JAROSLAW MACIEJEWSKI:** Yeah. Thank you.
16 I think -- I mean, we -- these questions are very
17 related to each other. So, we spent a lot of time
18 discussing it.

19 And I think we have almost consensus in terms
20 of a CBC. I mean, obviously as, you know, there can be
21 some mandated frequency of testing and -- in which

1 might be increased in patients who develop or who have
2 persistent cytopenia or whose counts are going down.

3 I would strongly remind everybody that we went
4 to bone marrow aspiration for diagnosis of something
5 that is not there -- should not be a routine part and
6 should be left up to the discretion of the physician.

7 Again, in patients who don't have much hemocytopenia it
8 would be inconsequential.

9 Earlier detection would be -- of a malignant
10 process would not be medically that important. It
11 would not offer bigger, better chances of intervention.
12 And it's quite invasive and intrusive given the
13 mildness of the symptoms.

14 So, in addition to some baseline counts that
15 could be implemented on a sort of -- you know,
16 depending, again, whether the patient is doing very
17 well. And these people get the transplants. They have
18 been medical victims for long, long time now. They are
19 getting better. And it has to be also accounted for.

20 I think next generation sequencing in the
21 product and then once a year in all patients would not

1 be intrusive. We don't need a bone marrow for it. It
2 could be done on peripheral blot. It would be
3 reasonable. Unless there is a sudden drop in count
4 which one could insert the sort of interventional per
5 discretion of the physician.

6 I am not going to comment, as I am not the
7 specialist, on the viral stuff. But it seems to me
8 that unlike in the previous protocol this has not been
9 such an issue here. So I am less worried about it.
10 But there is a certain standard of care for this. And
11 I would defer to somebody like Dr. Coffin or others.

12 **DR. LISA BUTTERFIELD:** All right. Thank you
13 very much. So, let's go to Dr. Gordeuk.

14 **DR. VICTOR GORDEUK:** This is a very simple
15 test. But I think the LDH would be worth getting along
16 with the CBC. I found that that can be a marker of an
17 early developing hematologic malignancy.

18 **DR. LISA BUTTERFIELD:** Thank you. Any
19 frequency for that over time that you would suggest?
20 Oh, you're gone already.

21 **DR. VICTOR GORDEUK:** I'm back. Yeah. I think

1 it should be done every time the CBC is done.

2 **DR. LISA BUTTERFIELD:** Okay.

3 **DR. VICTOR GORDEUK:** And maybe at six monthly
4 intervals would be good.

5 **DR. LISA BUTTERFIELD:** Great. Thank you, for
6 that. All right. Dr. M?

7 **DR. JAROSLAW MACIEJEWSKI:** Yeah. You know,
8 LDH, you know, it would not be a marker of anything in
9 patient with homologous except for homologous. So,
10 it's a cheap test and it's reasonable. So, I just
11 wanted to know. I mean, in somebody with hemolytic
12 anemia you are not detecting leukemia because patient
13 has LDH elevated.

14 **DR. LISA BUTTERFIELD:** I see. So your
15 recommendation would be that that would more likely be
16 signaled by --

17 **DR. JAROSLAW MACIEJEWSKI:** I think that it's
18 reasonable, but this would --

19 **DR. LISA BUTTERFIELD:** -- homologous --

20 **DR. JAROSLAW MACIEJEWSKI:** -- this would be
21 more response evaluation rather than pharmacovigilance

1 for evolution of leukemia.

2 **DR. LISA BUTTERFIELD:** Thank you. All right.

3 Other discussion on the recommendations for specific
4 testing for heme malignancies following infusion of the
5 cell products? Yes. Dr. Ott.

6 **DR. MELANIE OTT:** Yeah. I just want to
7 support what we had said earlier in response to another
8 question already where it was mentioned that really the
9 clonal expansion should be monitored frequently. And I
10 would say I would still do the ISA nor sort of perhaps
11 but really mandatorily in the follow-up registry study.

12 And I would probably do it more frequently at
13 the beginning and then more on a -- more in a yearly or
14 more, you know, longer time between the individual
15 tests later after and during the follow-up. But I
16 would definitely keep both parameters closely
17 monitored.

18 **DR. LISA BUTTERFIELD:** Thank you. And that's
19 clonal hematopoiesis and ISA?

20 **DR. MELANIE OTT:** Correct.

21 **DR. LISA BUTTERFIELD:** Thank you. Okay.

1 Other thoughts to add on Question Four? And then, I
2 guess at this point I will ask FDA if they have other
3 questions for discussion by the Committee. Dr. Bryan.

4 **DR. WILSON BRYAN:** No, thank you. I think
5 that's all our questions. I would -- if we could get a
6 little bit more on the frequency of the testing of the
7 CBC and the clonal hematopoiesis and ISA. Initially
8 what should that frequency be?

9 **DR. LISA BUTTERFIELD:** Okay. And let me refer
10 this to Dr. M about the CBC which was at --

11 **DR. JAROSLAW MACIEJEWSKI:** CBC begins --

12 **DR. LISA BUTTERFIELD:** -- you know, at --

13 **DR. JAROSLAW MACIEJEWSKI:** If the patient
14 established semi-normal counts, I mean, you know, I
15 think every three months -- monthly to every three
16 months or every six weeks would be reasonable, I mean,
17 because of the price and less volatility. I think
18 every six months for the first year and then maybe
19 annually the clonality unless for cost. This what we
20 are referring as to monitoring in all patients rather
21 than interventional in patients who have cytopenia

1 whereby it would at the discretion of the physician in
2 my opinion.

3 **DR. LISA BUTTERFIELD:** Thank you. Anything
4 else, Dr. Bryan?

5 **DR. WILSON BRYAN:** No. Thank you. That's
6 very helpful.

7 **DR. LISA BUTTERFIELD:** Okay. And we have one
8 more hand up by Dr. DiPersio before we go to the vote.

9 **DR. JOHN DIPERSIO:** I just say that for the
10 sequencing stuff if you look at the incidence and
11 kinetics of MDS in leukemia in these patients, it's
12 happening in the context of the usual timeframe, like
13 three to four years. So I would say yearly maybe for
14 five years, I would think. Something like that. It
15 can happen after that, but I think the highest risk
16 period is between three and five years after accolade
17 or exposure. Now, it's different for the other
18 products where there's more risk of insertional
19 oncogenesis.

20 **DR. LISA BUTTERFIELD:** Great. Thank you. All
21 right. With those specifics and the conclusion of the

1 discussion, let's move to voting. And so, let me bring
2 back Christina Vert, please, to talk about the process.

3 **MS. CHRISTINA VERT:** Thank you, Dr.

4 Butterfield. Only our six regular members and seven
5 temporary voting members, a total of 13, will be voting
6 in today's meeting.

7 And with regards to the voting process, Dr.
8 Butterfield will read the final voting question for the
9 record. And afterwards, all regular voting members and
10 temporary voting members will cast their vote by
11 selecting one of the voting options, which just like
12 yesterday will be yes, no, or abstain. And you'll have
13 one minute to cast your vote after the question is
14 read.

15 And please note again that once you cast your
16 vote you may change your vote within the one-minute
17 timeframe. However, once the poll has closed all votes
18 will be considered final. Once all the votes have been
19 placed, we'll broadcast the results and read the
20 individual votes out loud for the public record. And
21 does anyone have any questions about the voting process

1 before we begin?

2 **DR. LISA BUTTERFIELD:** No. Nothing --

3 **MS. CHRISTINA VERT:** Okay.

4 **DR. LISA BUTTERFIELD:** -- nothing comes up.

5 Thank you.

6 **MS. CHRISTINA VERT:** Okay. Great. Okay. Dr.
7 Butterfield, please read the voting question.

8 **DR. LISA BUTTERFIELD:** We have a single
9 question. Do the benefits of beti-cel outweigh the
10 risks for the treatment of subjects with transfusion-
11 dependent beta-thalassemia?

12 **MS. CHRISTINA VERT:** Thank you. You may --
13 once the voting pod's up -- yep, the voting pod's up.
14 Go ahead and start voting.

15 Okay. Time is up. That's one minute. Looks
16 like all the votes are in. We can broadcast. Okay.
17 Okay. Let's see. All right. Again, there are a total
18 of 13 voting members for today's meeting. And the vote
19 is unanimous. We have 13 out of 13 yes votes, zero no
20 votes, and zero abstained votes.

21 And I will read the responses. Okay. Let me

1 see. Okay. Janelle Trieu, yes; Jaroslaw Maciejewski,
2 yes; Lisa Butterfield, yes; Bernard Fox, yes; John
3 Coffin, yes; John DiPersio, yes; Randy Hawkins, yes;
4 Melanie Ott, yes; Victor Gorduek, yes; Navdeep Singh,
5 yes; Nirali Shah, yes; Jeannette Lee, yes; Taby Ahsan,
6 yes.

7 And that is the list. And this concludes the
8 vote for today. Thank you very much. And I'll pass
9 the meeting over Dr. Butterfield.

10 **DR. LISA BUTTERFIELD:** All right. Thanks
11 everyone. We are once again unanimous. And so now I
12 have to go through and we're going to ask each one of
13 you. And because we all voted yes, we are to explain
14 our votes. And I'll call everyone out by name one by
15 one. And please include discussion of your -- any
16 recommendations for any risk monitoring and mitigation
17 for patients who receive beti-cel in addition to
18 rationale for the yes vote.

19 So, I have here a list in front of me of the
20 six voting members and then the seven temporary voting
21 members. And so, one by one I'll go through this list

1 asking for the explanation for the vote.

2 So, my name is first. And so, my reason for
3 voting yes was the impressive efficacy data and minimal
4 risk data as shown by the sponsor in all the briefing
5 documents and with the discussion of all the experts
6 across the panel. And I do not have any specific
7 recommendations for risk monitoring other than what the
8 Committee has already discussed over the last hour.

9 Let me move now to Professor Fox.

10 **DR. BERNARD FOX:** Okay. So, I agree. I think
11 the 88.9 percent transfusion independence is
12 remarkable. I think that the risks with neutrophil
13 engraftment and platelet engraftment are clear. But
14 the benefits clearly at this point outweigh the risks
15 to the patients. And so, this provides the benefit. I
16 think that's enormous versus -- the graft versus host
17 disease risk that we heard from both physicians that
18 take care of these patients as well as from the patient
19 representatives.

20 I also agree with Dr. Butterfield. I would
21 support the monitoring proposals that have been put

1 forward by our colleagues with more experience in this
2 area. Thank you.

3 **DR. LISA BUTTERFIELD:** Thank you very much.

4 Dr. Lee, the reason for your vote.

5 **DR. JEANNETTE LEE:** I have the same feeling.

6 The efficacy, I think, was outstanding. And the
7 opportunity to be transplant independent I think is
8 really life changing of the patients. And I felt the
9 safety risks were definitely outweighed by the benefit.
10 And I will defer to my colleagues on the risk
11 monitoring and mitigation. Thank you.

12 **DR. LISA BUTTERFIELD:** Thank you. Dr. Ott.

13 **DR. MELANIA OTT:** Yes. I totally agree with
14 everybody. The efficacy is great. I also want to
15 point out that I was impressed by the stable expression
16 over seven years that was provided which is, I think,
17 very reassuring that this is going to be a long-term
18 benefit. I would say the safety data were very good in
19 the absence of any real clonality and malignancy here.

20 And I refer to what we discussed at length in
21 the last hour to the recommendations, especially when

1 it comes to clonal hematopoiesis and ISA.

2 **DR. LISA BUTTERFIELD:** Thank you. Dr. Shah.

3 **DR. NIRALI SHAH:** Hi. So, I also agree with
4 the risk benefit assessment. I feel that the benefit
5 clearly outweighs the risks that have been stated. One
6 comment that I specifically want to make is that just
7 given sort of the underrepresented minority and the
8 ethnic and racial predisposition of this disease that
9 we are sure to include also reporting for patient
10 reported outcomes and sort of what the distribution is
11 over the course of this therapy and its utilization.

12 In terms of the risk mitigation, I agree with
13 what's been stated. I would again continue to endorse
14 the use of a baseline marrow. I think it will be
15 informative at least while we learn a little bit more
16 about these patients and how they're treated -- and
17 would consider an enhanced monitoring program. And I
18 think that would have to be determined later for
19 patients who have delayed platelet engraftment for
20 evidence of oligoclonality.

21 **DR. LISA BUTTERFIELD:** Thank you for that.

1 Dr. Ahsan.

2 **DR. TABASSUM AHSAN:** Yes. I'll echo what
3 everyone else said, which is the durable clinical
4 outcome outweighs the concerns about engraftment at
5 this point. I think I'll leave the risk and monitoring
6 issue to what's already been discussed. But I will
7 reiterate that I do think that a deeper understanding
8 of the drug product in terms of the smaller populations
9 and characterizing the cell health is critical for a
10 deeper understanding of mechanism of action.

11 **DR. LISA BUTTERFIELD:** Terrific. Thank you.
12 And now we'll go through and hear from the temporary
13 voting members. Dr. Trieu.

14 **DR. JANELLE TRIEU:** I also have to agree with
15 everyone. We've seen compelling data to support the
16 benefits great -- that benefits greatly outweigh the
17 risk of the treatment. But also, there is a
18 significant improvement in the quality of life after
19 treatment that I don't think should be taken lightly.
20 I think given the minimal risks and favorable results
21 we've seen specifically from this treatment I don't

1 have anything to add to the post-treatment monitoring
2 that hasn't been mentioned already.

3 **DR. LISA BUTTERFIELD:** Thank you very much.

4 Dr. M.

5 **DR. JAROSLAW MACIEJEWSKI:** Thank you. It
6 seems that there is a clear benefit to the patient and
7 therapeutic option and that might be really paradigm
8 shifting. The currently use drugs and the ones that
9 were recently introduced are not as much of a paradigm
10 shift that would preclude or necessitate prospective
11 comparison because it seems to be a game changer. So,
12 I think it was not -- given the low toxicity, except
13 for the original procedure which it's inherent to. But
14 the retroviral product by itself -- the lentiviral, I
15 think this is a clear yes.

16 **DR. LISA BUTTERFIELD:** Thank you. Dr.

17 DiPersio.

18 **DR. JOHN DIPERSIO:** Okay. I feel that same
19 way. Tremendous benefit, minimal risk. And also,
20 minimal risk compared to standard of care as far as
21 quality of life and transplant. That's a very

1 important -- also important comparison.

2 Second is that the monitoring, I do agree with

3 Nirali that a baseline bone marrow would be important.

4 I think going forward these are -- you know, there's

5 three places where a somatic mutation can occur, right.

6 It's already there. It's generated by the procedure,

7 or it's amplified afterwards. And whether the

8 integration amplifies it further or not is another

9 question. So, I do think that having those initial

10 marrows would be very important.

11 In retrospect, one could go back and even do

12 the kinds of things that you'd really want to do if one

13 of these malignant clones progressed. And that's do

14 digital droplet PCR to see if it was there before or

15 after the manipulation. But I think this is an

16 important part of the overall forward progressive plan

17 to monitor these patients, I think.

18 **DR. LISA BUTTERFIELD:** Thank you for those

19 details. Dr. Coffin.

20 **DR. JOHN COFFIN:** Yes. I certainly have

21 little to add to the risk/benefit balance. I think

1 it's very, very clear here, including quality of life
2 issues, as we already heard.

3 As far as recommendations going forward,
4 certainly, they need to keep monitoring clonality. But
5 I'll say again that the integration site analyses are
6 not very meaningful. They're meaningful if you see
7 something that's 10 percent or more of the population.
8 But as far as frequencies, unbalanced frequencies in
9 specific genes mean nothing unless you know what you
10 started with.

11 The frequency of integration sites in in-vitro
12 infection as they're doing here can vary by a thousand-
13 fold from one gene to the next. It's enormously
14 variable. And then once you know what those numbers
15 are, you can't learn really very much about what you
16 see after periods of time that involve some kind of
17 selection. Or maybe not. Maybe it's just chance. But
18 you can't tell what you know without what you started
19 with.

20 I will be happy to offer myself to the sponsor
21 if they want any more discussion on this point because

1 I think it's very important for understanding these
2 experiments. And the general point here is that I
3 think a lot of the experimentation that should be done
4 and the monitoring that should be done should be in the
5 vein of using that to understand what's going on as
6 much as being predictive for clinical care.

7 There's trailblazing studies -- sort of
8 trailblazing therapies. And it's really incumbent on
9 these sponsors to really try to learn as much as
10 possible about the science that's going on for the sake
11 of further improvements in the process.

12 **DR. LISA BUTTERFIELD:** Thank you. Dr. Singh.

13 **DR. NAVDEEP SINGH:** Yeah. I support the study
14 and going forward with the plan. As I said earlier,
15 this treatment option affords someone like me who
16 doesn't have a sibling -- so bone marrow transplant
17 wasn't really offered for me. And so, to be able to be
18 offered the chance of being transfusion independent and
19 even with luspatercept, I mean, we're still getting
20 transfusions. So this gives a lot of hope to my
21 community. And yes, I'm looking forward and having

1 nothing -- no other recommendations in terms of
2 monitoring. I think -- I'm very happy about this.

3 **DR. LISA BUTTERFIELD:** Thank you. Dr.
4 Hawkins.

5 **DR. RANDY HAWKINS:** Yes. So, I'm in
6 agreement. As proceeds, quality of life really, really
7 important. Low risk is apparent. I would defer
8 monitoring to experts on the -- on this Committee.

9 I would again emphasize the importance of
10 taking this opportunity with whatever medical branch
11 informs the populous of the need for potential donors
12 to increase the number of individuals who avail
13 themselves of the ability to be a donor for
14 allotransplants. Thank you.

15 **DR. LISA BUTTERFIELD:** Thank you for that
16 note. And our final -- let's hear from Dr. Gordeuk.

17 **DR. VICTOR GORDEUK:** Yeah. It looks like the
18 benefits are really wonderful, outweigh the risks.
19 There's a clear way forward for regular monitoring at
20 least on a simple basis for the development of any
21 hematologic complications. So, I'm just highly in

1 favor.

2 **DR. LISA BUTTERFIELD:** Terrific. Thank you.

3 So that concludes the Committee vote explanation. So,
4 with that, I think we move now to some closing remarks
5 by Dr. Peter Marks.

6

7 **CLOSING REMARKS**

8

9 **DR. PETER MARKS:** Thanks, Dr. Butterfield.

10 First of all, I just -- I have a couple of thanks
11 mainly here. I want to say that it has been quite an
12 impressive two-day meeting. Really appreciate
13 everyone's participation.

14 I want to thank our Advisory Committee staff
15 for doing an incredibly skillful job putting everything
16 together. And the technical execution of this meeting
17 was excellent. Really appreciate that. Want to also
18 thank the staff at FDA who did an incredible job here
19 under Dr. Bryan's leadership. Really appreciate that.

20 Also, I want to thank all of the Committee
21 members and particularly thank you, Dr. Butterfield,

1 for doing an incredibly great job chairing this
2 meeting. It went off really, really very, very nicely.
3 The level of dialogue at this particular series of
4 meetings was at a level that is quite impressive. And
5 I think you may have set a standard for both the
6 conduct and the content of our Advisory Committee
7 meeting. So thank you very much for that.

8 I think this will be a very meaningful for
9 patients also, and it's very thoughtful the advice that
10 you've provided us. So, thank you very much. And I
11 don't want to keep anyone any longer on a Friday
12 afternoon. So thank you. I will turn it back over.

13 **DR. LISA BUTTERFIELD:** Thank you so much, Dr.
14 Marks. And so, with that, let me hand this off to
15 Christina Vert to close the meeting.

16 **MS. CHRISTINA VERT:** Thank you, Dr.
17 Butterfield. I want to also thank you for chairing the
18 meeting. It really was -- you did an outstanding job,
19 and everything went very smoothly with your leadership.
20 And I also want to thank the members, temporary voting
21 members, speakers, patient reps, for making this

1 meeting go so well and for your contributions and the
2 public that contributed also to the docket and to the
3 open public hearing. Thank you all. And I adjourn the
4 meeting.

5 **DR. LISA BUTTERFIELD:** Thanks, everyone.

6 **MS. CHRISTINA VERT:** Bye, everyone.

7 **MR. MICHAEL KAWCZYNSKI:** All right. With
8 that, this meeting has concluded.

9

10 **[MEETING ADJOURNED]**