

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

PEDIATRIC ONCOLOGY SUBCOMMITTEE OF THE
ONCOLOGIC DRUGS ADVISORY COMMITTEE (pedsODAC)

Virtual Meeting

Day 1

Wednesday, May 11, 2022

10:00 a.m. to 3:14 p.m.

Meeting Roster**ACTING DESIGNATED FEDERAL OFFICER (Non-Voting)****Joyce Yu, PharmD**

Division of Advisory Committee and
Consultant Management
Office of Executive Programs, CDER, FDA

ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)**David E. Mitchell**

(Consumer Representative)
Founder, Patients for Affordable Drugs
Bethesda, Maryland

Alberto S. Pappo, MD

(Chairperson, pedsODAC)
Member and Head, Division of Solid Malignancies
St Jude Children's Research Hospital
Professor of Pediatrics
University of Tennessee Health Science Center
Memphis, Tennessee

1 **ACTING INDUSTRY REPRESENTATIVE TO THE COMMITTEE**

2 **(Non-Voting)**

3 **Albert L. Kraus, PhD**

4 Global Regulatory Portfolio Lead, Oncology

5 Pfizer, Inc.

6 Guilford, Connecticut

7

8 **TEMPORARY MEMBERS (Voting)**

9 **Rochelle Bagatell, MD**

10 Professor of Pediatrics

11 Perelman School of Medicine, University of

12 Pennsylvania

13 Solid Tumor Section Chief, Division of Oncology

14 Department of Pediatrics

15 The Children's Hospital of Philadelphia

16 Philadelphia, Pennsylvania

17

18

19

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Steven G. DuBois, MD

Director, Experimental Therapeutics
Dana-Farber/Boston Children's Hospital
Associate Professor of Pediatrics
Harvard Medical School
Boston, Massachusetts

Ira J. Dunkel, MD

Professor of Pediatrics
Weill Cornell Medical College
Attending Pediatric Oncologist
Department of Pediatrics
Memorial Sloan Kettering Cancer Center
New York, New York

Julia Glade Bender, MD

Vice Chair for Clinical Research
Department of Pediatrics
Memorial Sloan Kettering Cancer Center
New York, New York

1 **Richard Gorlick, MD**

2 Division Head and Department Chair, Pediatrics

3 Professor of Pediatrics

4 H. Grant Taylor, M.D., W.W. Sutow, M.D. and

5 Margaret P. Sullivan, M.D. Distinguished Chair in

6 Pediatrics

7 Department Chair *ad interim*

8 Sarcoma Medical Oncology

9 University of Texas MD Anderson Cancer Center

10 Children's Cancer Hospital

11 Houston, Texas

12

13 **AeRang Kim, MD, PhD**

14 Director of Clinical Research

15 Division of Oncology

16 Children's National Hospital

17 Associate Professor of Pediatrics

18 The George Washington School of Medicine

19 Washington, District of Columbia

20

21

22

1 **E. Anders Kolb, MD**

2 Director, Nemours Center for Cancer and Blood

3 Disorders

4 Nemours Children's Health

5 Wilmington, Delaware

6 Professor, Department of Pediatrics

7 Sidney Kimmel Medical College at

8 Thomas Jefferson University

9 Philadelphia, Pennsylvania

10

11 **Theodore W. Laetsch, MD**

12 Associate Professor of Pediatrics

13 University of Pennsylvania/

14 Abramson Cancer Center

15 Director, Developmental Therapeutics and Very Rare

16 Malignant Tumor Programs

17 Children's Hospital of Philadelphia

18 Philadelphia, Pennsylvania

19

20

21

22

1 **Donna Ludwinski, BSCHE**

2 *(Patient Representative; Participation in Day 1*
3 *Only)*

4 New York, New York

5

6 **D. Williams (Will) Parsons, MD, PhD**

7 Associate Professor of Pediatrics

8 Baylor College of Medicine

9 Deputy Director, Texas Children's Cancer and

10 Hematology Centers

11 Houston, Texas

12

13 FDA PARTICIPANTS (Non-Voting)

14 **Gregory H. Reaman, MD**

15 Associate Director for Pediatric Oncology

16 Oncology Center of Excellence (OCE)

17 Office of the Commissioner (OC)

18 Associate Director for Pediatric Oncology

19 Office of Oncologic Diseases (OOD)

20 Office of New Drugs (OND), CDER, FDA

21

22

1 **Martha Donoghue, MD**

2 Acting Associate Director for Pediatric and Rare

3 Cancer Drug Development

4 OCE, OC

5 Deputy Director, Division of Oncology 2 (DO2)

6 OOD, OND, CDER, FDA

7

8 **Haleh Saber, PhD, MS**

9 *(Participation in Day 1 Only)*

10 Deputy Director

11 Division of Hematology Oncology Toxicology

12 OOD, OND, CDER, FDA

13

14 **Stacy S. Shord, PharmD, BCOP, FCCP**

15 *(Participation in Day 1 Only)*

16 Deputy Division Director

17 Division of Cancer Pharmacology II

18 Office of Clinical Pharmacology

19 Office of Translational Sciences, CDER, FDA

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Elizabeth S. Duke, MD

(Participation in Day 1 Only)

Medical Officer

DO2, OOD, OND, CDER, FDA

Margret Merino, MD

(Participation in Day 1 Only)

Medical Officer

Division of Hematologic Malignancies 2

OOD, OND, CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order	
4	Alberto Pappo, MD	14
5	Introduction of Subcommittee	
6	Joyce Yu, PharmD	14
7	Conflict of Interest Statement	
8	Joyce Yu, PharmD	20
9	FDA Introductory Remarks	
10	Developing a Consistent Conceptual	
11	Framework to Address Waivers of	
12	Pediatric Studies Required by the	
13	RACE for Children Act	
14	Gregory Reaman, MD	25
15	FDA Presentation	
16	Scope of the Current Problem: Examples of	
17	Multiple Same in Class Products for	
18	Hematologic Malignancies	
19	Margret Merino, MD	40
20		
21		
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	Guest Speaker Presentation	
4	European Medicines Agency (EMA)/Paediatric	
5	Committee (PDCO) - General Considerations on	
6	Waiving Requirements for Pediatric	
7	Investigations of Same in Class Products	
8	Dominik Karres, MD	54
9	FDA Presentation	
10	Nonclinical Studies in Decision Making	
11	Related to Pediatric Investigations:	
12	FDA Perspective	
13	Haleh Saber, PhD, MS	63
14	Guest Speaker Presentation	
15	EMA/PDCO - Nonclinical Considerations in	
16	Decision Making Related to Waiving	
17	Requirements for Paediatric Investigations	
18	Karen van Malderen, MSc	68
19		
20		
21		
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	FDA Presentations	
4	Clinical Pharmacology Considerations for	
5	Same-In-Class Products	
6	Stacy Shord, PharmD, BCOP, FCCP	77
7	Central Nervous System Penetration and	
8	Pediatric Brain Tumor Considerations for	
9	Same-In-Class Products	
10	Elizabeth Duke, MD	89
11	Clarifying Questions	97
12	Guest Speaker Presentations	
13	Product Quality and Formulation	
14	Considerations in Decisions Related to	
15	Pediatric Investigation of Same-in-Class	
16	Agents	
17	Siri Wang, PhD	133
18	An Industry Perspective in Waiving	
19	Requirements for Pediatric	
20	Investigations of Same-in-Class Products	
21	Scott Diede, MD, PhD	145
22	Clarifying Questions	158

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C O N T E N T S (continued)

AGENDA ITEM	PAGE
Open Public Hearing	164
Clarifying Questions (continued)	170
Questions to the Subcommittee and Discussion	193
Closing Remarks	
Gregory Reaman, MD	227
Adjournment	231

P R O C E E D I N G S

(10:00 a.m.)

Call to Order

DR. PAPPO: It's hard to believe that it's been a year since we last met. I hope that all of you are doing well and are happy and healthy, and we're going to get this meeting going.

Good morning and welcome. I would first like to remind everyone to please mute your line when you are not speaking. For media and press, the FDA press contact is Chanapa Tantibanchachai, and her email and phone number are currently displayed.

My name is Alberto Pappo, and I will be chairing today's meeting. I will now call the May 11, 2022 meeting of the Pediatric Oncology Subcommittee of the Oncologic Drug Advisory Committee to order. Dr. Joyce Yu is the acting designated federal officer for this meeting and will begin with introductions.

Introduction of Subcommittee

DR. YU: Thank you. Good morning. My name

1 is Joyce Yu, and I am the acting designated federal
2 officer for this meeting. When I call your name,
3 please introduce yourself by stating your name and
4 affiliation.

5 We'll start with Mr. Mitchell.

6 MR. MITCHELL: I'm David Mitchell. I am
7 the consumer representative to the ODAC. I am
8 president of Patients for Affordable Drugs, and I
9 am a multiple myeloma patient.

10 DR. YU: Dr. Pappo?

11 DR. PAPPO: Good morning. My name is
12 Alberto Pappo. I'm a pediatric oncologist at
13 St. Jude Children's Research Hospital, and I am the
14 chairperson for the Pediatric ODAC.

15 DR. YU: Thank you.

16 Dr. Bagatell?

17 DR. BAGATELL: Hi. My name is Ro Bagatell.
18 I'm a pediatric oncologist at the Children's
19 Hospital of Philadelphia, and I have just joined
20 the ODAC.

21 DR. YU: Dr. DuBois?

22 DR. DuBOIS: Hi. I'm Steve DuBois. I'm a

1 pediatric oncologist at Dana-Farber Boston
2 Children's.

3 DR. YU: Thank you.

4 Dr. Dunkel?

5 DR. DUNKEL: Good morning. My name is Ira
6 Dunkel. I'm a pediatric neuro-oncologist at
7 Memorial Sloan Kettering.

8 DR. YU: Dr. Glade Bender.

9 DR. GLADE BENDER: Good morning. I'm Julia
10 Glade Bender. I am also a pediatric oncologist at
11 Memorial Sloan Kettering, and the vice chair of
12 clinical research.

13 DR. YU: Dr. Gorlick?

14 DR. GORLICK: Good morning, everybody. I'm
15 Richard Gorlick. I'm a pediatric oncologist at
16 MD Anderson Cancer Center.

17 DR. YU: Dr. Kim?

18 DR. KIM: Good morning. My name is AeRang
19 Kim, and I am a pediatric oncologist at Children's
20 National in Washington, DC.

21 DR. YU: Thank you.

22 Dr. Kolb?

1 (No response.)

2 DR. YU: Dr. Kolb, you may need to go to
3 the top of your screen. I see you are muted in
4 Adobe Connect.

5 DR. KOLB: Thank you. Sorry about that.
6 My name is Andy Kolb. I'm a pediatric
7 hematologist/oncologist at Nemours Children's
8 Health.

9 DR. YU: Thanks.

10 Dr. Laetsch?

11 DR. LAETSCH: Hi. I'm Ted Laetsch. I'm a
12 pediatric oncologist at the Children's Hospital of
13 Philadelphia and University of Pennsylvania.

14 DR. YU: Ms. Ludwinski?

15 MS. LUDWINSKI: Hi. I'm Donna Ludwinski.
16 I'm a patient representative and work for Solving
17 Kids' Cancer in New York.

18 DR. YU: Dr. Parsons?

19 DR. PARSONS: Hi. Good morning. I'm Will
20 Parsons. I'm a pediatric oncologist at Texas
21 Children's Hospital and Baylor College of Medicine
22 in Houston, Texas.

1 DR. YU: Dr. Kraus?

2 DR. KRAUS: Yes. Good morning, everyone.
3 I'm Albert Kraus. I work in research and
4 development, currently for Pfizer Corporation in
5 oncological therapeutics.

6 DR. YU: Now we'll go ahead and introduce
7 our FDA participants for today, starting with
8 Dr. Reaman.

9 DR. REAMAN: Good morning. I'm Greg
10 Reaman. I'm the associate director for pediatrics
11 in the Oncology Center of Excellence at the FDA.

12 DR. YU: Thank you, Dr. Reaman.

13 Dr. Donoghue?

14 DR. DONOGHUE: Hi. Good morning. My name
15 is Martha Donoghue. I'm a deputy division director
16 of the Division of Oncology 2 at the FDA.

17 DR. YU: Dr. Saber?

18 DR. SABER: Good morning. I'm Haleh
19 Saber, deputy director in the Division of
20 Hematology Oncology Toxicology at CDER FDA.

21 DR. YU: Dr. Shord?

22 DR. SHORD: Good morning. My name is Stacy

1 Shord, and I am the deputy division director in the
2 Division of Cancer Pharmacology II.

3 DR. YU: Dr. Duke?

4 DR. DUKE: Good morning. I'm Elizabeth
5 Duke. I'm a pediatric neuro-oncologist and
6 clinical reviewer in the Division of Oncology 2 at
7 FDA.

8 DR. YU: Dr. Merino?

9 DR. MERINO: Good morning. My name is
10 Margret Merino. I'm a pediatric
11 hematologist/oncologist and a clinical reviewer in
12 the Division of Hematologic Malignancies 2 at the
13 FDA.

14 DR. PAPPO: Thank you, Joyce.

15 For topics such as those being discussed at
16 this meeting, there are often a variety of
17 opinions, some of which are quite strongly held.
18 Our goal is that this meeting will be a fair and
19 open forum for discussion of these issues and that
20 individuals can express their views without
21 interruption.

22 Thus, as a gentle reminder, individuals will

1 be allowed to speak into the record only if
2 recognized by the chairperson. We look forward to
3 a productive meeting.

4 In the spirit of the Federal Advisory
5 Committee Act and the Government in the Sunshine
6 Act, we ask that the advisory committee members
7 take care that their conversations about the topic
8 at hand take place in the open forum of the
9 meeting.

10 We are aware that members of the media are
11 anxious to speak with the FDA about these
12 proceedings, however, the FDA will refrain from
13 discussing the details of this meeting in the media
14 until its conclusion. Also, the committee is
15 reminded to please refrain from discussing the
16 meeting topic during the break. Thank you.

17 Now Dr. Joyce Yu will read the Conflict of
18 Interest Statement for the meeting.

19 **Conflict of Interest Statement**

20 DR. YU: The Food and Drug Administration,
21 FDA, is convening today's meeting of the Pediatric
22 Oncology Subcommittee of the Oncologic Drugs

1 Advisory Committee under the authority of the
2 Federal Advisory Committee Act, FACA, of 1972.
3 With the exception of the industry representative,
4 all ODAC members and temporary members of the
5 subcommittee are special government employees,
6 SGEs, or regular federal employees from other
7 agencies and are subject to federal conflict of
8 interest laws and regulations.

9 The following information on the status of
10 this subcommittee's compliance with federal ethics
11 and conflict of interest laws, covered by but not
12 limited to those found at 18 U.S.C. Section 208, is
13 being provided to participants in today's meeting
14 and to the public.

15 FDA has determined that ODAC members and
16 temporary members of this subcommittee are in
17 compliance with federal ethics and conflict of
18 interest laws. Under 18 U.S.C. Section 208,
19 Congress has authorized FDA to grant waivers to
20 special government employees and regular federal
21 employees who have potential financial conflicts
22 when it is determined that the agency's need for a

1 special government employee's services outweighs
2 his or her potential financial conflict of
3 interest, or when the interest of a regular federal
4 employee is not so substantial as to be deemed
5 likely to affect the integrity of the services
6 which the government may expect from the employee.

7 Related to the discussions of today's
8 meeting, ODAC members and temporary members of this
9 subcommittee have been screened for potential
10 financial conflicts of interests of their own as
11 well as those imputed to them, including those of
12 their spouses or minor children and, for purposes
13 of 18 U.S.C. Section 208, their employers. These
14 interests may include investments; consulting;
15 expert witness testimony; contracts, grants,
16 CRADAs; teaching, speaking, writing; patents and
17 royalties; and primary employment.

18 Today's agenda involves discussion of the
19 development of a conceptual framework that will
20 inform the decision making of the FDA on sponsor
21 plans and requests for waivers of early pediatric
22 investigations of molecularly targeted cancer drugs

1 and biologics when multiple same-in-class products
2 are approved and/or in development, recognizing
3 that the rarity of pediatric cancers may preclude
4 the feasibility of investigations of multiple
5 products.

6 Investigation of more than one product may
7 be appropriate when specific product
8 characteristics predict an improved benefit-risk
9 assessment that warrants clinical investigation.
10 The European Medicines Agency has also been invited
11 to present.

12 This is a particular matters meeting during
13 which general issues will be discussed. Based on
14 the agenda for today's meeting and all financial
15 interests reported by the ODAC members and
16 temporary members of the subcommittee, no conflict
17 of interest waivers have been issued in connection
18 with this meeting. To ensure transparency, we
19 encourage all ODAC members and temporary members of
20 the subcommittee to disclose any public statements
21 that they have made concerning the topic at issue.

22 With respect to FDA's invited industry

1 representative, we would like to disclose that
2 Dr. Albert Kraus is participating in this meeting
3 as a non-voting industry representative, acting on
4 behalf of regulated industry. Dr. Kraus' role at
5 this meeting is to represent industry in general
6 and not any particular company. Dr. Kraus is
7 employed by Pfizer.

8 With regard to FDA's guest speakers, the
9 agency has determined that the information to be
10 provided by these speakers is essential. The
11 following guest speakers have reported interests
12 which are being made public to allow the audience
13 to objectively evaluate any presentation and/or
14 comments made by the speakers.

15 Dr. Dominik Karres has acknowledged that he
16 is employed by the European Medicines Agency, EMA.
17 Dr. Scott Diede has acknowledged that he's employed
18 by Merck and Company, and he has stock in the
19 company. As guest speakers, Dr. Karres, Diede,
20 Wang, and Ms. van Malderen will not participate in
21 subcommittee deliberations, nor will they vote.

22 We would like to remind ODAC members and

1 temporary members of the subcommittee that if the
2 discussions involve any other topics not already on
3 the agenda for which an FDA participant has a
4 personal or imputed financial interest, the
5 participants need to exclude themselves from such
6 involvement, and their exclusion will be noted for
7 the record. FDA encourages all participants to
8 advise the committee of any financial relationships
9 that they may have regarding the topic that could
10 be affected by the subcommittee's discussions.
11 Thank you.

12 DR. PAPPO: Thank you very much, Joyce.

13 We will now proceed with our first
14 presentation from the FDA, from Dr. Gregory Reaman.

15 Greg?

16 **FDA Introductory Remarks - Gregory Reaman**

17 DR. REAMAN: Thank you, Dr. Pappo.

18 Good morning. I'd like to welcome and
19 thank you for your participation in today's
20 discussion of a conceptual framework for decision
21 making relating to planned requests for waivers of
22 pediatric investigations of targeted drugs and

1 biologic products directed at molecular targets
2 relevant to the growth of progression of one or
3 more pediatric cancers when multiple same-in-class
4 products are in development or have been approved,
5 and already subjected to the amended PREA
6 requirements for a molecularly targeted pediatric
7 cancer investigation.

8 I'd especially like to acknowledge and
9 welcome our colleagues from the Paediatric
10 Medicines Office at the European Medicines Agency
11 and members of its pediatric committee.

12 As most of you know, the RACE for Children
13 Act, Research Acceleration for Cure and Equity, was
14 incorporated as Title V Section 504 of the FDA
15 Reauthorization Act in 2017, amending the Pediatric
16 Research Equity Act, or PREA, in Section 505(b) of
17 the Food, Drug, and Cosmetic Act, and effective
18 August 18, 2020 to require -- for all initial
19 applications of a new drug or biologic product
20 intended to treat an adult cancer that is directed
21 at a target substantially relevant to the growth of
22 progression of a pediatric cancer -- early

1 investigation of that drug in the pediatric
2 population to provide clinically meaningful study
3 data using appropriate formulations to hopefully
4 inform product labeling on dosing, safety
5 tolerability, and preliminary effectiveness.

6 Description of the proposed study, or
7 studies, and timelines for protocol submission to
8 FDA for review, study initiation, study completion,
9 and submission of complete study reports are to be
10 included in the initial pediatric study plan, which
11 is expected to be submitted within 60 days
12 following the end of phase 2 meeting with a
13 division or at least 210 days prior to the
14 submission of an application for review.

15 Agreement by the FDA to these initial
16 pediatric study plans is required to be in place
17 before a new drug or biologics licensing
18 application is submitted; otherwise, FDA can refuse
19 to file the application.

20 The data from the pediatric studies are
21 expected to be included as part of the initial
22 application, but more likely planned requests for

1 deferrals are proposed and the study included in
2 the pediatric study plan actually becomes a
3 postmarketing requirement, as approval of the
4 application for the adult indication is generally
5 imminent, and there's certainly no intent on the
6 part of the FDA to delay approval of and access to
7 an effective adult cancer therapy.

8 Effectively, the requirement for early
9 pediatric investigation, due to the amendments to
10 PREA, has changed from the adult clinical
11 indication for which a drug is being developed to
12 the molecular mechanism of action of the drug.
13 Importantly, the exemption from pediatric studies
14 of drugs for which orphan designation has been
15 granted has now been eliminated for targeted
16 oncology products. Thus, RACE for Children Act
17 finally brings equity to children with cancer.

18 RACE has clearly altered the regulatory
19 landscape for cancer drug development for children
20 by beginning to address the inexcusable gap in the
21 timeline from first-in-human studies to
22 first-in-children studies currently greater than

1 six years on average, with the expectation that the
2 timeline from approval of an appropriate drug in
3 adults, through its demonstration of safe and
4 effective use and children, can and will also be
5 shortened.

6 The RACE for Children Act has had a major
7 impact globally given the global nature of cancer
8 drug development in general, but particularly in
9 children, and the expanding requirement in the U.S.
10 and EU for pediatric investigations based on
11 mechanism of action of drug products rather than
12 the clinical indication in adults for which drugs
13 are being developed.

14 As well, increasing acceptance of tissue
15 agnostic drug development paradigms across not only
16 clinical cancer diagnoses but across age groups,
17 including children; the challenge to clinical trial
18 conduct in small patient populations and increasing
19 requirements for international clinical trial
20 collaboration; increasing alignment of regulatory
21 requirements for pediatric study plans in the U.S.
22 and pediatric investigation plans in the EU, have

1 contributed to the global impact of the RACE for
2 Children Act.

3 Since full implementation of the RACE for
4 Children Act, the FDA has agreed to the planned or
5 ongoing pediatric investigations described in the
6 initial pediatric study plan, submitted to the
7 agency in advance of the initial applications for
8 new molecular entities or new active ingredients,
9 relevant to the growth of one or more cancers that
10 occur in children in 70 percent of submissions.

11 Just to give some idea of where or how the
12 RACE for Children Act has impacted pediatric drug
13 development, in 2021, 86 percent of approved new
14 molecular entities for cancer, directed at relevant
15 molecular targets, are being studied in children or
16 have plans included in postmarketing requirements
17 for pediatric studies. This contrasts with
18 experience in 2020, wherein 44 percent of NMEs
19 directed at relevant targets included plans for
20 pediatric development, and only 14 percent the year
21 before that.

22 The fact that a new drug is directed at a

1 molecular target relevant to a pediatric cancer,
2 considerations for planned requests for waivers of
3 pediatric investigations exist and are detailed in
4 FDA's FDARA implementation and guidance, and
5 include both full or age-associated partial waivers
6 for drug products and biologics known or highly
7 anticipated to be associated with significant
8 developmental toxicities; as well as in situations
9 where the development of age-appropriate
10 formulations of drug products is not possible,
11 thereby precluding some age groups, particularly
12 among young children who aren't able to safely and
13 effectively be dosed using available formulations,
14 specifically solid tablet or capsule dosage forms.

15 However, the immediately evident and
16 anticipated, yet totally unintended, problem for
17 which waiver considerations are critical relates to
18 the conundrum of too many drugs, specifically
19 same-in-class drugs, for required testing, when
20 there are too few patients in which to test them.
21 The issue clearly begs the question of the utility,
22 practicality and feasibility, and most importantly,

1 the clinical and scientific justification for
2 required early pediatric investigations of multiple
3 same-in-class products.

4 I don't believe that the immensity of the
5 problem was contemplated. At the time, we were
6 developing the guidance for industry on the
7 implementation of the RACE for Children Act, but
8 the extent to which the development of targeted
9 TKIs to the same genomic aberrations or pathways;
10 the redundancy of antibody drug conjugate
11 development; and the supersaturated efforts in the
12 immuno-oncology space, particularly in immune
13 checkpoint inhibitors, has created an unprecedented
14 number of overlapping and competitive development
15 plans for many adult cancers, and clearly a
16 potential challenge to realizing and sustaining the
17 benefit to pediatric patients with cancer of the
18 amended PREA provisions afforded by Section 504 of
19 FDARA.

20 The importance of this piece of legislation
21 has formed the overarching philosophy that has
22 guided its patient-centric implementation, and

1 considerations of patient benefit remain the
2 essential factor in decision making as to plans for
3 waiver requests for early pediatric investigations.

4 The timelines for submission of initial
5 pediatric study plans, and for which decisions must
6 be made in advance of a planned new drug or
7 biologic license in the application, make it
8 difficult to recognize or fully appreciate what may
9 be perceived by some as an undue burden on the
10 company or applicant who leads development with a
11 first-in-class targeted agent.

12 We feel that there's no reason to suggest
13 that the company who leads the development in the
14 field of multiple same-in-class agents is being
15 penalized intentionally because of the requirement
16 to conduct a limited pediatric investigation of
17 what could be an effective new drug for children
18 with cancer. It has clearly limited potential
19 benefit to patients in merely duplicating a study
20 of new drugs with the same mechanism of action to
21 determine dosing tolerability and single activity
22 unless there is a scientifically sound reason for

1 doing so.

2 The extent to which companies developing
3 same-in-class products might discuss and compare
4 the relative attributes of their products in a
5 non-competitive space to reach agreement about
6 which of their products might be most appropriate
7 for early and limited pediatric investigation could
8 be considered.

9 An industry-initiated platform in the
10 outcome of its deliberations with the decision and
11 commitment to which of their products might be the
12 most appropriate for early pediatric studies could
13 inform FDA decision making at the time of our
14 review of initial pediatric study plans regarding
15 considerations for planned waivers of pediatric
16 studies of multiple same-in-class products.

17 Clearly, any strategies to delay
18 development of a novel agent so as to not represent
19 the first-in-class product, simply to avoid the
20 requirement for a limited early pediatric
21 investigation, would not constitute a preferred
22 practice, and clearly would not best serve the best

1 interests of cancer patients, both adult and
2 children.

3 Since August 2020, FDA has agreed to plans
4 for full waivers of pediatric investigations of
5 same-in-class products, despite the fact that these
6 products are directed at relevant molecular
7 targets. The agency's guidance for industry on
8 implementation of FDARA Section 504 and the
9 amendments to 505(b) of the FD&C Act has attempted
10 to address this problem, citing waiver
11 consideration, specifically for same-in-class
12 agents and those with identical mechanisms of
13 action, when competing studies in the pediatric
14 population are being or have been conducted, and
15 when there's no convincing evidence that the new
16 active ingredient would provide a superior
17 pharmacologic toxicity or activity profile when
18 compared to products with the same molecular
19 mechanism of action already studied or under
20 investigation, potentially resulting in a very
21 small number of patients available to participate
22 in a new investigation; or when a drug or drugs

1 with the same mechanism of action, directed at the
2 same molecular target, expressed in the same
3 cancers in children, have already failed to
4 demonstrate evidence of activity.

5 The agency's guidance, as I mentioned, has
6 provided some information, but we would therefore
7 like to discuss with the committee an approach to
8 be more consistent or develop a more consistent
9 framework for decision making, based on a set of
10 critical variables and their individual and
11 collective importance, and consider how available
12 information on such variables of same-in-class
13 products can best be included by sponsors in their
14 initial pediatric study plans to enable a more
15 objective approach to decisions regarding planned
16 waiver requests.

17 As I mentioned previously, we have included
18 in this discussion colleagues from the European
19 Medicines Agency and the pediatric committee to
20 align, to the extent possible, the criteria used to
21 reach decisions regarding planned waivers of
22 same-in-class products included in pediatric

1 investigation plans and in pediatric study plans,
2 recognizing that the timelines for which
3 information is to be included and the timelines for
4 which decisions are made with respect to acceptance
5 of PIPs and pediatric study plans are very
6 different.

7 Variables that we've selected to
8 consider -- and there's no suggestion that this is
9 a complete list -- include any evidence of
10 differences in clinical activity in adults,
11 recognizing that data may be limited, and possibly
12 in children, where data may be expected to even be
13 more limited, and the specific cancer types in
14 which any differences may have been demonstrated.

15 Equally important to efficacy is the
16 relative difference in toxicity profiles of
17 same-in-class agents or the demonstration in a
18 specific product of specific toxicities in adults
19 that may portend exaggerated risks for children.
20 Relative differences in nonclinical activity, as
21 far as effectiveness, as well as toxicity, of
22 different same-in-class agents might also help

1 inform decisions related to which, if any, of
2 multiple same-in-class drugs warrant investigation.

3 Important differences in pharmacologic
4 parameters, including absorption, bioavailability,
5 age-dependent metabolism, and clearance
6 differences, as well as specific product
7 attributes, including dosage forms, route, and
8 schedule of administration, may prove useful in
9 decisions about the need to investigate more than
10 one product and same in class.

11 I would like to clarify that our objective
12 today is not to focus on prioritization of
13 same-in-class products for definitive pediatric
14 development within the context of a single or
15 multiple specific cancer diagnosis. Other
16 processes and platforms exist for this purpose.

17 Although the principles to be considered
18 may be generally similar, the timelines by which
19 decisions are required to be made, dictated by the
20 agreement with an initial pediatric study plan, and
21 whether an application for a new drug for a
22 specific adult indication is suitable for filing,

1 preclude decisions that may impact later stage or
2 definitive pediatric development. It should be
3 pointed out, however, that initial pediatric study
4 plans with commitments through required early
5 investigations can be amended should emerging
6 science suggests that a potentially superior
7 alternate or next-generation molecular entity is
8 available.

9 We emphasize the importance of providing
10 guidance to industry and clinical investigators, as
11 well as to patients and advocates, but that doing
12 so requires consistency and transparency and is
13 better codified when feasible to avoid purely
14 subjective or less than well-informed decisions.

15 I want to stress again that the fact that
16 we are undertaking this effort is with a primary
17 focus on patients, and to assure that FDA fairly
18 and optimally exercises the important authorities
19 that have been provided by the amended PREA
20 provisions to benefit children with cancer. Again,
21 we appreciate your time, your wisdom, and judgment
22 as we create this framework, which will be codified

1 in a guidance and will work towards creating
2 guidance for industry. Thank you.

3 DR. PAPP0: Thank you very much,
4 Dr. Reaman.

5 We will now proceed to our next
6 presentation from the FDA, from Dr. Margret Merino.

7 **FDA Presentation - Margret Merino**

8 DR. MERINO: Thank you, Dr. Pappo.

9 Good morning. This is Margret Merino, and
10 I'm a pediatric hematologist/oncologist and
11 clinical reviewer in the Division of Hematologic
12 Malignancies 2 at the FDA. Our division reviews
13 products in development for hematologic
14 malignancies such as Hodgkin's lymphoma, indolent
15 and aggressive non-Hodgkin's lymphoma, chronic
16 lymphocytic leukemia, and multiple myeloma.

17 To highlight the scope of the issue
18 regarding single-class waivers and to provide some
19 context for discussion, I'll first highlight some
20 of the key topics just covered, and then review our
21 division's experience with same-in-class waiver
22 considerations for products in development for

1 hematologic malignancies.

2 As was previously mentioned, in an effort
3 to address the inadequate early evaluation of
4 anti-cancer agents for pediatric diseases, the
5 Pediatric Research Equity Act, or PREA, amended by
6 the FDA Reauthorization Act of 2017, or FDARA,
7 requires that pediatric assessments are submitted
8 for the original NDA or BLA, unless the requirement
9 is waived or deferred, if the drug is intended for
10 the treatment of an adult cancer and directed at a
11 molecular target substantially relevant to the
12 growth or progression to a pediatric cancer.

13 Importantly and relevant to the discussion
14 of same-in-class waivers, the submission of the
15 initial pediatric study plan, or IPST, outlining
16 the plan for pediatric assessments should occur
17 early in development, generally no later than
18 60 days after the end of phase 2 meeting. In a
19 rapidly developing treatment landscape with
20 multiple same-in-class agents and similar stages of
21 development, same-in-class waiver plans and
22 considerations are common.

1 It's important to highlight, again, that
2 the requirement of the IPST is to provide data on
3 the safety, dosing, and preliminary efficacy in
4 pediatrics and does not require an exhaustive
5 exploration of the agent in all potentially
6 relevant diseases and settings.

7 Over the last several years, there has been
8 rapid development of targeted agents in hematologic
9 malignancies generally with a pattern of a
10 first-in-class targeted agent demonstrating
11 activity for an adult disease, with a number of
12 next-in-class agents with the same or similar
13 mechanism of action following shortly, often within
14 a short time span.

15 While the situation of having a number of
16 potential agents with the same or very similar
17 mechanism of action, for the small number of
18 pediatric patients that we typically see in
19 pediatric diseases, can be considered a positive
20 development, this obviously introduces challenges
21 regarding prioritization of agents and trial
22 feasibility considerations for pediatric studies.

1 To address this anticipated issue of the
2 feasibility and appropriateness of requiring
3 multiple pediatric studies and diseases with a
4 limited number of patients, as outlined in the FDA
5 guidance for industry, Section G, the Basis for
6 Planned Waiver and Deferrals, circumstances where a
7 waiver or deferral for pediatric studies may be
8 appropriate for a later generation agent with an
9 identical mechanism of action include when there
10 are competing studies that are ongoing or have been
11 conducted and there is no convincing evidence that
12 the new active ingredient provides an advantage,
13 and when a drug with the same mechanism of action
14 directed at the same target, expressed in the same
15 cancer and children, have failed to demonstrate
16 evidence of activity. Some additional
17 considerations include the assessment of an
18 advantage, which can be due to superior
19 pharmacologic activity with regards to efficacy and
20 safety, and particularly important for pediatric
21 formulation and ease of administration, as well as
22 unique PK characteristics such as central nervous

1 system penetration.

2 Regarding whether a plan for a deferral of
3 pediatric studies versus a plan for a waiver of
4 pediatric studies is justified, evolving data from
5 ongoing studies, either in adults or other
6 pediatric studies, in same-in-class agents should
7 be considered. This may include data regarding
8 subpopulations such as a biomarker-positive
9 population or new evolving data regarding safety or
10 dosing.

11 Lastly, another consideration, given the
12 importance of collaboration and cooperative group
13 trials in pediatrics, is prioritization of
14 same-in-class agents by cooperative groups can also
15 be considered. Having covered that background,
16 I'll now move on to discuss some of these
17 considerations for some of the agents with initial
18 pediatric study plans, including a plan to request
19 for a same-in-class waiver or a deferral. While
20 these examples are in the context of hematologic
21 malignancies within our division, the concepts are
22 applicable broadly.

1 I'll specifically review three classes of
2 agents for which there are multiple products in
3 development for hematologic malignancies: the
4 Bruton tyrosine kinase, or BTK inhibitors, for
5 which there are at least seven agents in
6 development; the phosphatidylinositol 3 kinase, or
7 PI3K inhibitors; and the anti-CD20-CD3 bispecific
8 antibodies, or CD20 T-cell bispecifics, which there
9 are at least four in development.

10 Bruton tyrosine kinase inhibitors have
11 changed the treatment landscape significantly for
12 adult hematologic malignancies. The first agent,
13 ibrutinib, was initially approved in 2013, and
14 there are currently two additional agents,
15 acalabrutinib and zanubrutinib, approved for
16 various hematologic indications.

17 Initial approvals of these agents occurred
18 prior to the implementation of FDARA, and based on
19 the adult indications and/or orphan designation,
20 there were no required pediatric studies, although
21 one agent, ibrutinib, was evaluated in a randomized
22 study in pediatric patients with

1 relapsed/refractory aggressive B-cell lymphoma.
2 The study which evaluated ibrutinib in combination
3 with intensive chemotherapy was terminated early
4 due to futility.

5 Since Bruton tyrosine kinase, which plays a
6 key role in B-cell signaling, is considered a
7 relevant target for pediatric B-cell lymphomas, for
8 new agents in development post-FDARA, pediatric
9 studies are required unless a waiver or deferral is
10 granted.

11 As displayed on the table, there are four
12 additional agents with the same or similar
13 mechanism of action as the first-in-class agent
14 that are in late clinical development and for which
15 initial pediatric study plans, which have included
16 a plan to request a waiver, have been reviewed. In
17 general, there has been agency agreement on plans
18 to request waivers based primarily on the
19 previously mentioned prior study of an agent with
20 the same mechanism of action that failed to
21 demonstrate activity and no convincing evidence of
22 an advantage of the next-generation agents under

1 consideration.

2 Additional considerations include the
3 remaining uncertainty of the role in BTKis for
4 aggressive lymphomas in adults, as these studies
5 are ongoing, as well as the feasibility of
6 conducting a study for this class, which is not
7 currently prioritized by pediatric cooperative
8 groups. And as indicated in the last column of the
9 slide, for agents that may have potential increased
10 CNS penetration, sponsors were required to address
11 the relevance to pediatric CNS lymphomas in the
12 pediatric study plan.

13 As mentioned earlier, there are at least
14 nine PI3K inhibitors generally directed at the
15 delta isoform in development for adult hematologic
16 malignancies, with the first agent, idelalisib,
17 initially approved in 2014, and with four
18 same-in-class agents subsequently approved.
19 Similar to the BTKis, the four agents that received
20 approval did so prior to FDARA, and therefore
21 pediatric studies were not required based on the
22 adult indications and/or the orphan designation.

1 Also similar to the situation with the BTKis, one
2 agent, copanlisib, which is an IV form, is under
3 evaluation in a cooperative group study for
4 pediatric patients with relapsed or refractory
5 solid tumors and lymphomas.

6 It's important to mention that with regards
7 to the PI3K inhibitors in hematologic malignancies,
8 some of the original approval statuses have changed
9 recently. For this presentation, I'm focusing on
10 the considerations with regards to the initial
11 pediatric study plans when they were submitted.
12 Since PI3K is considered a relevant target for
13 pediatric B-cell lymphomas and some solid tumors,
14 for new agents in development with NDA submissions
15 post-FDARA, pediatric studies are required once a
16 waiver or deferral is granted.

17 As displayed in table 3, there are three
18 additional agents with the same or similar
19 mechanism of action as the first in class that are
20 in late clinical development and in which initial
21 pediatric study plans included a plan to request a
22 waiver for pediatric studies. For the first agent,

1 the agency did not agree with a plan for a full
2 waiver. One consideration was that this was the
3 first post-FDARA agent, and there were no ongoing
4 mandated pediatric studies, and the other was an
5 oral formulation, which was considered a potential
6 advantage in an important consideration for
7 pediatric patients.

8 For subsequent agents, there has been
9 general agreement with a plan for a waiver with
10 ongoing negotiations. Importantly, other
11 considerations for this class included isoform
12 activity considerations for each agent, as this has
13 relevance to safety and efficacy, and the important
14 evolving safety data from adult studies and the
15 need to consider this evolving data regarding the
16 feasibility and interest of conducting studies in
17 children given the safety and dose optimization
18 concern.

19 Lastly, I'll discuss the CD20-CD3 T cell by
20 specific agents, which are being developed in
21 indolent and aggressive B-cell non-Hodgkin's
22 lymphoma in adults. There are no currently

1 approved agents, however, there are at least four
2 agents that are in late phases of development with
3 activity demonstrated in adult studies in patients
4 with relapsed and refractory CD20 positive
5 lymphomas.

6 As these initial pediatric study plans are
7 all being considered post-FDARA, pediatric studies
8 are required unless a waiver or deferral is granted
9 since CD20 is considered a relevant target for
10 pediatric B-cell lymphomas. Importantly, this
11 class of agents has been prioritized by the
12 pediatric ACCELERATE group for inclusion in a
13 future platform study in pediatric patients with
14 relapsed or refractory B-cell lymphoma.

15 An important safety concern identified
16 early in the adult studies the cytokine release
17 syndrome with initial dosing, and this has required
18 dose optimization to assure safety and mitigate
19 potentially severe and life-threatening cytokine
20 release syndrome. Initial proposals for pediatric
21 study plans included plans to request a deferral
22 for pediatric studies pending selection of an agent

1 for the platform study, as well as plans for
2 deferrals, pending additional safety data in
3 adults.

4 The agency did not agree with the plan to
5 request a deferral pending the platform study, as
6 the study was outside of the sponsor's control, was
7 not a mandated study, and the timeline was
8 uncertain. Therefore, the initial pediatric study
9 plan should include a plan for studies in pediatric
10 patients with relapsed or refractory B-cell
11 lymphomas. For some products, the agency did agree
12 with the plan for a deferral until additional
13 safety data was obtained in adults to obtain
14 dosing.

15 Considerations for these products included
16 the platform study, which I'll cover in the next
17 slide, as well as the safety considerations, and
18 were individually evaluated for each product,
19 considering the available data and the need to
20 further optimize the dosing strategies. Another
21 consideration with this class was the need to
22 obtain monotherapy data on dosing and safety in

1 pediatrics either prior to or parallel to
2 combination study evaluation. For this class,
3 discussions that included the FDA, as well as other
4 regulatory authorities, conducted through several
5 formats to facilitate alignment in the required
6 pediatric studies when feasible, were conducted.

7 Finally, I'd like to briefly review further
8 some considerations regarding platform studies or
9 cooperative group studies as related to initial
10 pediatric study plans, given the importance of
11 these studies in pediatric oncology.

12 A platform or cooperative group study may
13 be included in an initial pediatric study plan and
14 collaboration with cooperative groups is encouraged
15 early in the developmental process. However,
16 potential inclusion of an agent, or another
17 same-in-class agent in a future planned cooperative
18 group study, may not be sufficient to justify a
19 plan for a waiver or deferral, as the
20 responsibility for submitting the pediatric
21 assessments, unless a waiver or deferral is
22 granted, lies with the sponsor. In cases where a

1 cooperative or platform study is ongoing, and the
2 agent has been selected and is in evaluation, this
3 scenario could be considered as meeting the
4 requirement for pediatric assessments or
5 justification for a plan for a waiver or deferral.

6 In summary, based on the development
7 landscape for hematologic malignancies and our
8 division's experience to date, plans to request
9 waivers for same-in-class products are common and
10 are likely to continue. Early coordination and
11 cooperative group considerations are key, but they
12 cannot be relied upon solely, as ultimately it is
13 the sponsor's responsibility to conduct pediatric
14 studies unless a waiver or deferral is granted.
15 Regulatory body alignment, when feasible, should be
16 pursued, and prioritization of same-in-class agents
17 will be an ongoing challenge and should be guided
18 by science, but will be influenced by timing.

19 Although there will be circumstances where
20 plans for waivers for same-in-class agents are
21 appropriate, for agents that are early in
22 development, given the rapidly developing treatment

1 landscape and evolving data, a deferral versus a
2 waiver may be a preferred approach.

3 Thank you for your attention, and I will
4 now turn it back over to Dr. Pappo.

5 DR. PAPP0: Thank you very much,
6 Dr. Merino, for an excellent presentation.

7 We will not proceed with our guest speaker
8 presentation by Dr. Dominik Karres.

9 Dominik?

10 **Guest Speaker Presentation - Dominik Karres**

11 DR. KARRES: Thank you very much,
12 Dr. Pappo, and thank you very much to the FDA for
13 the kind invitation to present EMA/PDCO's general
14 considerations on waiving requirements for
15 pediatric investigation plans for same-in-class
16 products.

17 My name is Dominik Karres, and I'm a
18 scientific officer in the Paediatric Medicines
19 Office, and my clinical background is in pediatric
20 oncology. This is my usual disclaimer. I'll give
21 you a short regulatory background introduction
22 leading to the challenges we face and an outline of

1 our current approach, including practical
2 considerations on this issue before concluding.

3 The objective of the EU pediatric
4 regulations is to stimulate timely development of
5 better medicines for children based on ethical
6 research of high quality and to ultimately increase
7 the availability of appropriately authorized
8 medicines through pediatric investigation plans,
9 so-called PIPs agreed by the European Medicines
10 Agency's pediatric community, the PDCO.

11 PIPs summarized the agreed quality
12 nonclinical and clinical measures considered
13 necessary to generate the data to allow conclusion
14 on benefit-risk in the intended target population.
15 The scope of the PIPs is framed by an overarching
16 condition wording, which needs to cover the adult
17 and pediatric target indication with tools like
18 deferrals, modifications, and waivers available to
19 ensure timely evidence generation while allowing
20 refocus of development efforts based on emerging
21 evidence and potential changing needs over time.

22 It is clearly acknowledged from our site

1 that rarity of pediatric cancer types make it
2 challenging to complete a pediatric program in a
3 setting with multiple same-in-class products. The
4 two key challenges are, first, how to foster
5 development approaches globally able to best and
6 timely address high unmet medical needs based on
7 robust evidence; and secondly, how to identify the
8 most promising agent for timely initiation of a
9 development effort in the most appropriate target
10 population without discarding, actually, valuable
11 candidates prematurely, but to ensure data
12 generation to support developments for the most
13 promising product or products able to offer
14 significant therapeutic benefit to patients in
15 need.

16 To achieve this objective to ultimately
17 increasing the availability of pediatric medicines,
18 the current regulatory strategy from the EMA's PDCO
19 is to ensure they are using all available
20 regulatory tools -- deferrals, modifications,
21 waivers -- as I've mentioned, and taking into
22 account for progress of science such that

1 scientific evidence generation leads to evolving
2 insights and prompts modifications of hypotheses
3 and expectations; and that means to acknowledge
4 that optimal development efforts based on
5 scientific data may lead to same-in-class products
6 being initially subject to equal obligations.

7 Having said that, having several PIPs for
8 same-in-class products agreed, there's no
9 expectation that all agreed PIPs will necessarily
10 start at the same time or be all completed.

11 So our regulate-free strategy is allowing
12 for additional evidence generation as needed to
13 support decision making, allowing for involving
14 repeated cycles of evidence considerations as
15 necessary to really be revisited in collaboration
16 with stakeholders, and also empowering patients,
17 parents, and investigators, including corporate
18 groups, to lead and participate the discussions on
19 evidence considerations among multiple, sometimes
20 initially competing, requests for development
21 efforts, acknowledging, again, that the extra
22 responsibility is with the marketing authorization

1 holder.

2 To be very clear, this does not mean
3 delaying the agreement of regulatory development
4 obligations until supporting evidence becomes
5 available to allow final decision making. To the
6 contrary, it means to engage early to really
7 fulfill the objective of the pediatric regulation,
8 which is to ensure timely access to novel agents
9 for patients with high unmet medical needs, but
10 also providing predictability in terms of necessary
11 global development requirements in that regard.

12 Coming to practical considerations,
13 agreeing that product-specific waivers needs to be
14 based on one of the three existing legal grounds:
15 disease not occurring, which I will not touch on
16 today; lack of safety or efficacy and lack of
17 significant therapeutic benefit. If no strong
18 supportive evidence is available at state of
19 initial submission, a full waiver averse approach
20 is usually taken, with additional evidence
21 requested to be generated as part of an agreed PIP;
22 for example, by means of additional nonclinical

1 data to further support decision making on a
2 product's ability to address high unmet medical
3 needs.

4 I would now like to go through some
5 examples. Some have already been mentioned or will
6 further be discussed in subsequent presentations.
7 So I will not spend much time on it. But to
8 exemplify, PDCO granted full waivers for individual
9 products within certain classes for dedicated
10 conditions such as PI3 kinase inhibitors for mature
11 B-cell malignancies, sonic hedgehog inhibitors for
12 AML, or kinase inhibitors for benign soft-tissue
13 neoplasms based on safety considerations through
14 generated nonclinical and/or clinical data,
15 including data from adults.

16 Of note, it's here that the actual rate of
17 grounds might not necessarily then be based on
18 safety, but the safety considerations and context
19 of the potential added benefit, and existing unmet
20 medical needs essentially supporting the grounds of
21 lack of significant therapeutic benefit.

22 Similarly, the PDCO grants its

1 product-specific labels for individual products of
2 certain classes based on lack of efficacy such as
3 checkpoint inhibitors for solid tumors, excluding
4 melanoma; products targeting BCMA for treatment of
5 mature B-cell malignancies; or Bruton tyrosine
6 kinase inhibitors, again, for treatment of mature
7 B-cell malignancies; similar to the previous
8 examples, based on nonclinical or clinical data
9 generated within a PIP, leading to the necessary
10 evidence supporting final regulatory decision
11 making in that regard.

12 As I mentioned, what are considerations
13 which might constitute significant therapeutic
14 benefit or lack thereof, that, for example, could
15 be, in addition to the examples I've mentioned
16 related to quality considerations -- and my
17 colleague, Dr. Wang, will talk about that in her
18 presentation later today -- but also, for example,
19 improved activity over standard of care shown by
20 either extrapolation of adult data, if biologically
21 relevant, or relevant nonclinical data, and better
22 target organ penetration; for example, the ability

1 of a product to be more suitable for combination
2 developments. I would like to point out that this
3 is a non-exhaustive list, but just being examples
4 for consideration in that regard.

5 To finalize the series of examples with
6 some general reflections, I would like to emphasize
7 that regulation decision making is for each
8 individual product on its own merits based on the
9 robustness and the rigor of the available and
10 contextualized evidence submitted, allowing for
11 development to be initiated timely, generating
12 evidence with prespecified decision points agreed
13 to re-evaluate the cumulative evidence to support
14 modifications of obligations, including the
15 potential for agreed requirements to be lifted.

16 To conclude, very generally, the EMA's
17 pediatric committee has taken a waiver averse
18 approach, waiving PIP requirements early only when
19 there is sound and convincing scientific evidence
20 in support of one of the three waiver grounds, as
21 I've outlined. The focus of the committee is the
22 patients, with the objective to bring development

1 efforts together into one arena -- in a
2 pre-competitive space, if you will -- to allow for
3 timely and refocused collaborative evidence
4 generation effort according to emerging needs.

5 I would like to emphasize that a PIP is not
6 an isolated regulatory requirement, it's not a
7 protocol, but it's a plan that can be modified in
8 light of emerging science, which means it can also
9 be closed, as I've indicated and show in my
10 examples. Very clearly, early interactions with
11 regulators are key in order to reach our common
12 objective together.

13 Finally, and to close, I would like to
14 thank the FDA once again for this very kind
15 invitation, as I believe collaboration is key to
16 support reaching our common goal, bringing the
17 right drug to the right patient at the right time
18 as early as possible, and from a PIP perspective,
19 to generate the data necessary for a pediatric
20 indication.

21 Discussing conceptual framework
22 considerations potentially able to support waiving

1 regulatory requirements of same-in-class products
2 at the right time is an important aspect of our
3 common objective, while appreciating the different
4 regulatory frameworks governing our decision
5 making, so we really appreciate today's discussion.
6 And with that, I would like to thank colleagues and
7 close my presentation. Thank you.

8 DR. PAPPO: Thank you very much,
9 Dr. Karres.

10 We will now proceed to our next FDA
11 presentation from Dr. Haleh Saber.

12 **FDA Presentation - Haleh Saber**

13 DR. SABER: Good morning. I'm Haleh Saber,
14 deputy director in the Division of Hematology
15 Oncology Toxicology and the Office of Oncologic
16 Diseases at the FDA. Our group reviews
17 pharmacology and toxicology data submitted to INDs
18 and marketing applications.

19 This presentation is on the use of
20 nonclinical studies in making decisions about
21 pediatric studies, and during my talk, I will use
22 the term "drug" to refer to both small-molecule

1 drugs, as well as biologics.

2 Here is the outline of my presentation. I
3 will go over nonclinical studies recommended in
4 support of adult and pediatric cancers. I will
5 then discuss nonclinical studies to guide and in
6 decision making on pediatric studies when multiple
7 drugs are available against the same target.

8 This slide shows an overview of nonclinical
9 studies in support of first-in-human studies.
10 Recommendations in nonclinical studies in oncology
11 drug development are described in ICH S9 and ICH S9
12 Questions and Answers. Often when an IND is
13 submitted, it's initially for an adult indication,
14 or it's for both adult and pediatric populations,
15 with pediatric studies being a few cohorts behind
16 adult studies.

17 Nonclinical studies in the IND include
18 pharmacology studies to evaluate the mechanism of
19 action and binding in anti-tumor activities of a
20 drug. The IND will also include results of general
21 toxicology studies and pharmacokinetic data, such
22 as systemic exposure and a half-life of a drug. PK

1 data are usually incorporated into the design of
2 toxicology studies. General toxicology studies are
3 conducted in animals to assist drug-induced
4 toxicities and can assist in patient monitoring.
5 They can also assist in selecting a first-in-human
6 dose.

7 As mentioned earlier, pediatric studies
8 often fall behind studies in adult patients, and
9 nonclinical studies described in the previous slide
10 have been conducted. Additional nonclinical
11 studies in support of pediatric indications may
12 include proof-of-concept pharmacology studies to
13 show that the drug has activity in pediatric models
14 of the disease and appropriate cell lines.
15 Pharmacology studies can also evaluate
16 schedule-dependent effects of the drug for an
17 optimal trial design and could also contain arms of
18 approved drugs such as drugs against the same
19 target or for the same disease.

20 Safety of a drug in children is evaluated
21 through an integrated risk assessment based on the
22 totality of data. The risk assessment will include

1 safety data from adult patients, safety data from
2 nonclinical studies conducted in support of studies
3 in adult patients, and safety assessment based on
4 the mode of action of the drug and the pathway that
5 is being inhibited; and this latter can include
6 information from published articles.

7 When multiple drugs are available against
8 the same target, comparative pharmacology studies
9 can assist in deciding whether study in pediatric
10 patients is warranted. I will expand on this a
11 little bit more in the next slide. Comparative
12 binding studies are typically in vitro studies and
13 comparative activity is usually in vitro and
14 in vivo studies. Additional comparative data may
15 be also needed as applicable, such as comparative
16 pharmacokinetic data.

17 To better describe the importance of
18 comparative studies, I'm providing two examples on
19 this slide. Starting with the example on the left,
20 an IND has been submitted for an investigational
21 drug, which is an IgG4 antibody called mAb1.
22 Nonclinical studies in support of adult indications

1 have been conducted, and data in adult patients are
2 available with mAb1.

3 Two other IgG4 antibodies -- mAb2 and
4 mAb3 -- against the same target were previously
5 approved and their data in adults and children with
6 mAb2 and mAb3. MAb2 and mAb3 had no activity in
7 the pediatric cancer of interest. The sponsor has
8 conducted comparative pharmacology studies
9 comparing mAb1 to mAb2 and mAb3, which indicate the
10 products are comparable. Using these data and
11 other relevant data, the clinical team may decide
12 that a study in that specific pediatric cancer is
13 not warranted.

14 In the other example on the right, the
15 investigational product, mAb1, is an IgG1 antibody,
16 and comparative studies showed differences in the
17 activities between mAb1 and the other two approved
18 products, with mAb1 being more potent than mAb2 and
19 mAb3. In this case, the clinical team may decide
20 that the study in children is indeed warranted.

21 This concludes my presentation on
22 nonclinical studies, and I will turn it over to

1 Dr. Pappo.

2 DR. PAPP0: Thank you very much for your
3 excellent presentation, Dr. Saber.

4 We will now proceed to our next guest
5 speaker presentation from Ms. Karen van Malderen.

6 **Guest Speaker Presentation - Karen van Malderen**

7 MS. VAN MALDEREN: Hello, and thank you for
8 giving us the opportunity to present here today.
9 I'm Karen van Malderen. I'm a nonclinical assessor
10 at the Belgian Medicines Agency. I have a
11 background in toxicology, and I'm also at the
12 paediatric committee at EMA and at the nonclinical
13 working party of the EMA.

14 As an introduction, the nonclinical data
15 that we usually have in oncology PIPs or waiver
16 requests, as has been partially covered by the
17 previous speaker, are pharmacodynamic data, as said
18 before, and safety pharmacology data; and those
19 endpoints are usually included in the general
20 toxicology studies.

21 We have pharmacokinetic data and data from
22 repeat-dose toxicity studies in animals, which

1 allow us to have an idea of the target organs of
2 the drug and the reversibility or irreversibility
3 of the effect. Sometimes we have limited data from
4 reproduction tox studies or genotox studies, as not
5 all of these studies are considered essential to
6 support trials for patients with advanced cancer.

7 Based on these data, the applicant, and we
8 as a regulator as well, we make an assessment of
9 what concerns there could potentially be for using
10 this product in the pediatric population, and those
11 can be different from concerns expected or known in
12 the adult population.

13 As shown in the figure, you see the
14 age-dependent development of the major human organ
15 systems, and you can see that the younger you go in
16 age, the more organ systems are still undergoing
17 critical development, and that can be structural or
18 functional. So the younger in age, the more likely
19 that there may be a different sensitivity to the
20 toxicity of the product or that novel toxicities
21 could occur.

22 The immaturity of organ systems during drug

1 treatment can also affect the pharmacokinetics or
2 the pharmacodynamics, and also off-target effects
3 of pharmaceuticals, which may potentially lead to
4 differences in safety or efficacy profiles between
5 the pediatric population when compared to adults.

6 I'm focusing here today on the safety
7 aspect. When we assess product-specific waivers
8 based on the grounds of lack of safety, this is, in
9 fact, an integrated assessment based on the
10 totality of the evidence, and that includes the
11 clinical context together with the pharmacology,
12 pharmacokinetics, and nonclinical and clinical
13 safety data that are available that can be in
14 adults or in children. But with respect to the
15 nonclinical data in adult animals or in juvenile
16 animals, data generated with the compound or with
17 the same-class compounds.

18 Generally, we consider that a single factor
19 should not be considered in isolation, and we also
20 consider that with respect to nonclinical data,
21 that also the translatability and the biological
22 relevance of findings in nonclinical studies should

1 be considered; so what is the clinical relevance?
2 In addition, we also have a look at the other
3 specific factors such as risk mitigation.

4 If strong data are not available or lead to
5 a conclusion that this would be sufficient to
6 conclude that there is a lack of safety, then at
7 EMA, we are likely to take a waiver averse
8 approach, and we then request more evidence to be
9 generated; for instance, an additional nonclinical
10 study to support further decision making. But the
11 outcome of this assessment with regard to waiver
12 request can be reassessed if there are new safety
13 signals in nonclinical or clinical studies, or
14 changes in drug formulation or indication.

15 To illustrate this, I have collected some
16 examples with respect to requests for safety-based
17 waivers. The first example was a CSF 1 receptor
18 inhibitor for the treatment of tenosynovial giant
19 cell tumors. The applicant here requested a full
20 waiver and received a full waiver with the
21 population from birth to prepubertal children,
22 having a waiver on the grounds of lack of safety.

1 The basis for that was the observation of adverse
2 effects observed in rodent studies that were
3 relevant to organs undergoing development in the
4 target population.

5 The targeted receptor had a known role also
6 during postnatal development. There was existing
7 standard of care, and there was also a full waiver
8 granted already for a same-in-class product,
9 although in a different condition, but also based
10 on safety concerns.

11 The second example concerns a multi-
12 tyrosine kinase inhibitor which was proposed for
13 the treatment of soft tissue sarcomas and Ewing
14 sarcoma. The applicant requested a waiver below
15 the age of 12 years for lack of safety, based
16 mainly on the nonclinical profile of the drug and
17 the mode of action, and concerns known for other
18 TKI inhibitors. And indeed, based on the
19 nonclinical data, effects on several developing
20 organs were expected based on the mode of action
21 and off-target effects.

22 However, tyrosine kinases inhibited by

1 different TKI inhibitors vary, and also their
2 potency varies, so making the bridge to the other
3 compounds was not so obvious in our view here.
4 Also, waivers for some of these same-in-class
5 products were granted, but only in the youngest age
6 range, not up to 12 years, and these were
7 specifically supported by lack of tolerability in
8 juvenile animal tox studies.

9 Here, the outcome of the PDCO discussion
10 was that, for now, no waiver would be granted, but
11 a deferral and further nonclinical studies were
12 requested to generate additional evidence
13 supporting either development in the youngest or a
14 waiver if further concerns would appear in the data
15 that would still be generated.

16 The next example was the smoothed
17 hedgehog inhibitor for a treatment of AML in
18 children 2 to 18 years. The applicant here
19 proposed development in this population, however,
20 we rendered a full waiver on the grounds that this
21 specific product was likely to be unsafe in
22 children from birth to closure of the epiphysis,

1 and that was based on preclinical, irreversible
2 adverse effects complemented with clinical data
3 available for some products in this class.

4 The first example was a RET inhibitor,
5 where the initial PIP proposed the development from
6 6 months onward in patients with RET-altered,
7 locally advanced or metastatic solid tumors, or
8 primary CNS tumors. When we approved the initial
9 PIP, several nonclinical tox studies were still
10 planned by the sponsor, and there was one clinical
11 study planned in the whole age range from 6 months
12 to less than 18 years.

13 At a later stage, however, a modification
14 was submitted, and a staggered development was
15 agreed below the age of 12 years based on no
16 nonclinical data that indicated a lack of
17 tolerability at clinically relevant exposure
18 levels. These emerging nonclinical data showing
19 lack of tolerability were different from the data
20 we had seen at the initial PIP.

21 The final example was an NK1 receptor
22 antagonist, where the initial PIP was proposed for

1 the prevention of chemotherapy-induced nausea and
2 vomiting from 6 months to less than 18 years, and
3 was also agreed. However, at a later stage, this
4 plan was modified into a full waiver for all
5 subsets on the grounds that the product is likely
6 to be unsafe based on new nonclinical data,
7 indicating irreversible adverse findings to organs
8 under development and also in combination with the
9 availability of other compounds in this class with
10 a less severe tox profile.

11 In conclusion, when we make such regulatory
12 decisions, it's based on the robustness in all the
13 data of the available scientific evidence for each
14 individual product, based on the old nonclinical
15 data from the compound itself or from the same
16 class of compounds, taking into account the
17 maturation of the organ systems that can be
18 literature-based, or also from actual data, and
19 also to have an understanding of the overall
20 clinical development plan and experience from
21 same-class products. As clinical development
22 progresses, adjustments to the plan can be made

1 based on the available data at that time, and the
2 decision can be different for different
3 applications of the same drug product, depending on
4 the target population.

5 Data from compounds of the same class can
6 certainly be useful and support waiver requests,
7 however, some cautiousness is needed, especially if
8 there may be differences between the receptors
9 targeted or the potency to these receptors, such as
10 multi-TKIs as shown in the example, and also for
11 these products, unexpected toxicity. Toxicities
12 not related to primary pharmacology have occurred,
13 so some cautiousness is warranted for extrapolating
14 safety data within the same class there.

15 Finally, waiving the PIP requirement early
16 should only be based on sound scientific
17 justifications, as shown by the examples. However,
18 when serious safety concerns arise in nonclinical
19 data, waivers are usually requested for the
20 youngest patient population as a result. However,
21 medical needs are often high there, so, ideally,
22 more efforts should be undertaken to understand the

1 clinical relevance of such known clinical findings.
2 For instance, when the reason for novel findings or
3 an increased sensitivity and toxicity is not
4 understood, additional mechanistic investigations
5 could be useful to help interpret these differences
6 and support the need, or not, for a waiver. Thank
7 you.

8 DR. PAPPO: Thank you very much for your
9 presentation, Ms. van Malderen.

10 We will now go on to the last FDA
11 presentation of the morning from Dr. Stacy Shord,
12 followed by Dr. Elizabeth Duke.

13 **FDA Presentation - Stacy Shord**

14 DR. SHORD: Good morning, and thank you for
15 this opportunity. My name is Stacy Shord, and I'm
16 a clinical oncology pharmacist in the Division of
17 Cancer Pharmacology II. The Division of Cancer
18 Pharmacology II reviews the clinical pharmacology
19 information for products being developed for solid
20 tumors.

21 This morning, I will be discussing some
22 clinical pharmacology considerations for

1 same-in-class products. Briefly, I will discuss
2 the following: what physiological differences are
3 observed between adult and pediatric patients or
4 between different pediatric age groups; what
5 factors should be considered when selecting a
6 dosage form for the relevant pediatric age groups;
7 and how a dosing regimen for pediatric patients can
8 be identified, with the aim of generating
9 discussion on how the clinical pharmacology of a
10 drug can form a pediatric development plan for
11 same-in-class products.

12 Multiple physiological differences have
13 been observed in pediatric patients that can cause
14 observable differences in drug absorption,
15 distribution, and elimination between adult and
16 pediatric patients and across pediatric age groups,
17 such as infants, children, and adolescents. As an
18 example, gastric pH is initially higher in younger
19 pediatric patients compared to that of adults, as
20 noted by the red columns.

21 With higher gastric pH, the oral absorption
22 of drugs, classified as wheat basis, with low

1 intrinsic solubility would likely decrease, which
2 could negatively alter effectiveness. Wheat-based
3 drugs that have both pediatric and adult
4 indications include dasatinib for CML, crizotinib
5 for non-small cell lung cancer in adults, and ALCL
6 in pediatric patients. As another example, hepatic
7 metabolism is typically less for younger pediatric
8 patients compared to older pediatric patients as
9 observed on the next slide.

10 This graphic shows the relative expression
11 of human hepatic cytochrome P450 enzymes in
12 pediatric patients to that of adults on the Y-axis,
13 with age on the X-axis. CYP3A4 is the most
14 abundant CYP subfamily, and it is responsible for
15 metabolism in about 50 percent of currently
16 marketed drugs.

17 This graphic suggests that CYP3A4 levels
18 drawn as the black line, in pediatrics age 5 years
19 and older, is similar in value to that of adults.
20 Reduced metabolism in younger pediatric patients
21 may have a clinically meaningful effect on safety,
22 allowing the parent drug to accumulate for

1 effectiveness by minimizing the formation of active
2 metabolites.

3 As an example, midazolam is a sensitive
4 CYP3A4 substrate. It undergoes extensive
5 metabolism to major active metabolites, and its
6 oral clearance is markedly decreased in preterm
7 infants as compared to that of other pediatric
8 patients, probably due to immature CYP3A4 activity.
9 Alternative dosage recommendations are available
10 for the neonates.

11 These physiological changes that affect
12 absorption, distribution, and elimination can alter
13 the pharmacokinetics of the drug. This graphic
14 shows a possible relationship between drug exposure
15 and safety, the red curve, and efficacy, the blue
16 curve. As noted by the dashed gray and black
17 vertical lines on this graphic, changes in drug
18 exposure can alter the safety or effectiveness of
19 the drug. These physiological changes could also
20 affect the impact of food, other drugs, and organ
21 impairment on drug exposure.

22 This table compares five drugs that inhibit

1 the same target. Drug A was approved for the
2 treatment of an oncologic diseases in pediatric
3 patients. The remaining drugs were being developed
4 in adult patients. These drugs showed marked
5 differences in the proposed dosing interval,
6 administration relative to food, and doses
7 modifications for organ impairment and drug
8 interactions.

9 Therefore, it is important to consider how
10 food, other drugs, and organ impairment impacts
11 drug exposure in the relevant pediatric age groups
12 compared to that of adults, based on physiological
13 differences. It is also important to consider how
14 these differences affect administration of the drug
15 product to the relevant pediatric age groups, as
16 shown on the next slide.

17 When considering whether to develop these
18 four remaining drugs in pediatrics, the following
19 questions could be considered. Will pediatric
20 patients prefer to take the drug once or twice
21 daily? Will pediatric patients be able to take the
22 drug with food? Will pediatric patients have

1 underlying renal or hepatic impairment? Will
2 pediatric patients be taking other drugs that may
3 interact with these drugs?

4 These drugs may be taken to manage comorbid
5 illnesses, adverse reactions, and other items. By
6 addressing these questions and considering the
7 impact of the physiological differences on drug
8 exposure, an assessment could be made whether one
9 or more of these remaining drugs pose a potential
10 advantage to pediatric patients compared to drug A.

11 When developing a drug for pediatric
12 patients, it is also important to consider the
13 dosage form. Multiple dosage forms are possible
14 with some of the dosage forms calmly administered
15 to pediatric patients listed here, however, the
16 most appropriate dosage forms depends on the
17 relevant pediatric age group. For example, for
18 pediatric patients less than 5 years old, liquid
19 dosage forms, rather than solid dosage forms, may
20 be preferred for oral use, given younger pediatric
21 patients typically cannot swallow an intact solid
22 dosage form. Other factors such as taste and

1 appearance may also affect acceptability of dosage
2 forms for relevant pediatric age groups.

3 The next two slides show two drugs with
4 different approaches to evaluating a drug in
5 pediatric patients. For this example, it is
6 important to determine if the approved drug product
7 can be administered to relevant pediatric age
8 groups likely to be enrolled in the trial and if
9 the dosage form and strength could accommodate the
10 recommended dosage and doses modifications for
11 adverse reactions, drug interactions, and organ
12 impairment in the relevant pediatric age groups.

13 For this drug product, the labeling states
14 that pediatric patients must be able to swallow
15 intact capsules and that the dosage is not
16 available for pediatrics with a body surface area
17 less than 0.6 meter squared. Of note, a body
18 surface area of 0.6 meter squared typically is
19 associated with pediatrics weighing about
20 14 kilograms.

21 When developing a drug in pediatric
22 patients with solid dosage forms, addressing these

1 questions can help inform the pediatric development
2 plan.

3 Can the approved drug product be
4 administered to pediatric age groups likely to be
5 enrolled in a trial? Can the dosage form and
6 strength accommodate the recommended dosage and
7 dosage modification for adverse reactions, drug
8 interactions, and organ impairment in pediatric
9 patients? For this example, the pediatric patients
10 to be evaluated in the clinical trials could not
11 typically swallow an intact capsule. An
12 investigational drug product was made to support
13 the clinical trials.

14 This additional question can help inform
15 the pediatric development plan. Is an alternative
16 dosage form or route of administration that is
17 appropriate for pediatric age groups likely to be
18 enrolled in a trial if needed?

19 If a new dosage form, or alternative route
20 or method of administration, will be used to
21 support pediatric development, additional studies
22 such as those listed here may be needed before the

1 new dosage forms, strength, or alternative
2 administration is implemented in clinical trials.

3 The final two slides provide a summary of
4 the pediatric study plan design and points to
5 consider as detailed in the guidance for industry,
6 General Clinical Pharmacology Considerations for
7 Pediatric Studies for Drugs and Biological
8 Products. The information detailed in this
9 guidance could be considered when evaluating
10 pediatric development plans for same-in-class
11 products.

12 As example, selecting an appropriate dosage
13 range to be studied is important to subsequently be
14 able to provide rational dose recommendations for
15 pediatric patients. In general, possible
16 approaches to identify a dose in pediatric patients
17 include separate studies in pediatric patients and
18 relevant age groups, or PK studies in pediatrics to
19 determine how the dosing regimen should be adjusted
20 to achieve the same level of systemic exposure in
21 adults.

22 When determining the most appropriate

1 approach to identifying the dosage for pediatric
2 trials, factors that may be considered are listed
3 here, including disease biology; exposure or
4 dose-response relationships for safety and
5 effectiveness; dosing based on body size; growth
6 and developmental changes that affect
7 pharmacokinetics; and adverse reactions specific to
8 pediatric patients.

9 Possible approaches to pediatric studies
10 include extrapolation or separate approaches.
11 Extrapolation is appropriate when pediatric
12 patients have similar disease progression,
13 treatment response, and exposure response to that
14 of adult patients, and that the drug exposure is
15 measurable and predictive of response.

16 Comparatively, a separate approach is
17 appropriate when disease progression or treatment
18 response is unique to pediatric patients as
19 compared to that of adults. For this approach,
20 clinical studies are designed to provide
21 substantial evidence of safety and effectiveness
22 and characterize the PK and exposure-response

1 relationships in pediatric patients.

2 This table provides examples that show when
3 the indication was extrapolated to pediatric
4 patients from adults on the left-hand side of the
5 table, and when the indication was based on results
6 from studies that included pediatric patients on
7 the right-hand side of the table.

8 This slide lists some questions that may be
9 considered when determining whether an
10 extrapolation or separate development plan may be
11 warranted, including, is the disease biology
12 anticipated to be the same in pediatrics and
13 adults; is the response to the drug anticipated to
14 be the same in pediatrics and adults; is the
15 pharmacokinetics anticipated to be the same; and
16 lastly, are additional adverse reactions
17 anticipated in pediatrics compared to adults; as
18 examples, bone, dental, or other effects on growth
19 and development?

20 Whether extrapolation or a separate
21 development would be an appropriate approach may be
22 an important consideration when assessing the

1 pediatric development plan for a same-in-class
2 product.

3 In conclusion, it may be appropriate to
4 consider evaluating the following items: relevant
5 pediatric age groups; possible physiological
6 differences in the relevant age groups that may
7 impact drug exposure and how food, organ
8 impairment, and other drugs impact exposure;
9 availability of an acceptable dosage form and
10 strength that can be administered to the relevant
11 pediatric age groups within an appropriate dose
12 range; and lastly, the most appropriate approach
13 for identifying the dosage to be studied,
14 establishing the safety and effectiveness and
15 characterizing the drug exposure and the relevant
16 pediatric age groups. When assessing the pediatric
17 drug development plan, some same-in-class products
18 may have clinical pharmacology characteristics that
19 support their development.

20 With that, I want to just acknowledge a few
21 individuals who helped with their time and support.
22 Thank you again.

1 DR. PAPPO: Dr. Duke, you're next.

2 **FDA Presentation - Elizabeth Duke**

3 DR. DUKE: Good morning. Thank you,
4 Dr. Pappo.

5 My name is Elizabeth Duke. I'm a pediatric
6 neuro-oncologist in the Division of Oncology 2,
7 where we review brain tumors, pediatric solid
8 tumors, and other rare tumor submissions. Today
9 I'll be discussing central nervous system
10 penetration and pediatric brain tumor
11 considerations for same-in-class products.

12 Different drugs, even those within the same
13 class, have varying levels of activity in the
14 central nervous system, or CNS, which includes the
15 brain and the spinal cord. This issue is
16 multifactorial and important to consider in our
17 discussion of the criteria to grant waivers of
18 pediatric evaluation for same-in-class molecularly
19 targeted agents.

20 Today, I'll discuss several aspects of
21 pharmacokinetics as they relate to the CNS: the
22 role of the blood-brain barrier and its

1 complexities, followed by a discussion of how CNS
2 penetrance can be measured, and provide some
3 conclusions.

4 There are several examples in oncology in
5 which same-in-class products have different levels
6 of activity within the central nervous system.
7 This table was published in the Clinical Cancer
8 Research journal. The four drugs listed in bold
9 are different oral eGFR tyrosine kinase inhibitors
10 or TKIs. The box in red highlights the ratio of
11 the peak drug concentration observed in the brain
12 compared to the blood, and this ratio is one of the
13 tools we have to estimate the CNS pharmacokinetics
14 of various drugs, with higher values suggesting
15 higher CNS concentrations. As you can see, the
16 ratio varies widely across the four drugs even
17 though they're in the same class.

18 Why is this an important issue to discuss
19 today? There's a significant unmet medical need
20 for children with malignant brain and spinal
21 tumors, and new safe and effective therapies are
22 needed for this population. Waiving the study of a

1 same-in-class drug that has improved activity in
2 the CNS may be a missed opportunity for those
3 patients.

4 To date, the efficacy of anti-cancer agents
5 at the site of the CNS has been limited by
6 challenges with drug delivery, adequate exposure,
7 and dosing requirements needed to achieve efficacy.
8 These parameters may differ from other sites in the
9 body. So to better understand what we really mean
10 by CNS penetrance, I'll focus on pharmacokinetics
11 as it relates to the CNS.

12 Pharmacokinetics in general is the branch
13 of pharmacology dedicated to the understanding of
14 what the body does to a drug as it passes through
15 four phases. First is absorption, the rate and
16 extent of drug appearance at a target site; in this
17 case, the brain. This is highly dependent on the
18 route of administration such as intravenous, oral,
19 intraventricular, intrathecal, as well as aspects
20 of the drug substance itself. In general, to enter
21 the CNS, drugs must be small, highly lipid soluble,
22 and positively charged.

1 Second is distribution. The volume of
2 distribution is the amount of the drug in a certain
3 area of the body, and we consider the concentration
4 of the drug in the brain compared to that observed
5 in the blood. There are limitations to the
6 accuracy and precision of bioanalytical methods,
7 and often the cerebrospinal fluid, or CSF, is used
8 as an alternative site to measure the concentration
9 of a given drug.

10 Third is metabolism, the chemical
11 modification of a drug molecule in the body. This
12 process can lead to formation of active or inactive
13 metabolites which have varying levels of activity
14 in the brain. There can also be interactions with
15 other drugs that complicate this process.

16 Finally excretion, the process of the drug
17 and its metabolites leaving the body, and a drug's
18 accumulation may lead to adverse side effects,
19 which can potentially be life-threatening in the
20 enclosed space of the skull.

21 So really, all aspects of pharmacokinetics
22 are impacted by the blood-brain barrier and other

1 protective mechanisms that shield the CNS from
2 toxic substances in the blood, also supply
3 nutrients to the brain, and then filter compounds
4 back into the bloodstream.

5 The blood-brain barrier is much more than
6 just a structural barrier. There are cellular
7 components, enzymes, transport systems, reflex
8 mechanisms, and immune cells that have complex
9 interactions and vary by location in the brain. As
10 a brain tumor grows and disrupts the surrounding
11 environment, there are even more additional
12 factors, the interactions between the tumor, the
13 blood, the surrounding CSF, as well as the normal
14 brain and tumor. Recent research has shown that
15 tumors can actually make functional synapses with
16 normal neurons to facilitate tumor growth. All of
17 these aspects are important to consider to
18 understand the ability of drugs to have efficacy
19 for tumors of the central nervous system.

20 There are data to suggest that some brain
21 tumors, particularly high-grade tumors, release
22 factors that cause swelling, leading to breakdown

1 of the blood-brain barrier. This can be observed
2 at a high level on MRI as areas of contrast
3 enhancement, as you can see in the MRI scan here
4 for patient A. Many low-grade tumors do not have
5 contrast enhancement, as you can see for patient B,
6 although there are exceptions to this general rule.

7 So while the breakdown of the blood-brain
8 barrier might suggest that drugs could potentially
9 act at the tumor site despite their inability to
10 penetrate the blood-brain barrier, several studies
11 have shown that even though we don't see that
12 contrast enhancement on an MRI, there are still
13 areas of tumor that remain inside the blood-brain
14 barrier. Thus, effective therapy cannot be
15 delivered with adequate exposure to the entire
16 population of targeted cells.

17 I'll also briefly mention there are an
18 increasing number of methods to directly deliver
19 drugs to the CNS, intrathecal delivery into the
20 spinal fluid via a needle in the lower back or
21 intraventricular delivery via an injection into the
22 fluid-filled spaces around the brain.

1 Convection-enhanced delivery is a method of local
2 drug delivery whereby a pressure gradient is
3 created at the tip of an infusion catheter to
4 deliver drug directly to the tumor
5 microenvironment.

6 In addition, several devices are under
7 development to transiently open the blood-brain
8 barrier such as focused ultrasound or microbubbles
9 as depicted in this figure. These methods
10 highlight the importance of preclinical models to
11 help understand whether giving a drug could be
12 effective in brain tissue regardless of how it gets
13 there.

14 There are several ways to assess the
15 potential of a drug to be efficacious in the CNS.
16 Both in vitro and in vivo models can be used.
17 Measurements include the rate of transport into the
18 brain, which is a measure of permeability; the
19 efflux ratio or potential of a drug to be actively
20 pumped out by transporters; the quantitative
21 concentrations of the drug in the brain, or CSS,
22 compared to the plasma, and ideally those would be

1 the unbound or free brain concentrations of the
2 drug; and then the ratio of the brain-to-plasma or
3 CSF-to-plasma concentrations.

4 The appropriate parameters to affect
5 potential CNS activity will really depend on the
6 drug being investigated, its mechanism of action,
7 and chemical and pharmacological properties. The
8 appropriate parameters will also depend on the
9 disease or diseases being targeted. We recommend
10 meeting with FDA early in clinical development to
11 discuss the potential of a drug to penetrate the
12 CNS and potentially show efficacy for CNS cancers.

13 In summary, there's an unmet need for
14 children with brain and spinal tumors. The
15 blood-brain barrier and CNS penetrance are complex
16 but important to measure. Ultimately,
17 same-in-class molecularly targeted agents may have
18 different activity in the CNS, and this may be
19 important to consider in our discussion of the
20 criteria to grant waivers of pediatric evaluation
21 for same-in-class products.

22 Thanks to my colleagues at FDA, and thank

1 you for your attention.

2 **Clarifying Questions**

3 DR. PAPP0: Thank you very much, Dr. Shord
4 and Duke for your excellent presentation. We will
5 now take clarifying questions for our presenters
6 thus far. Please use the raise-hand icon to
7 indicate that you have a question, and remember to
8 clear the icon after you have asked your question.

9 When acknowledged, please remember to state
10 your name for the record before you speak and
11 direct your questions to a specific presenter, if
12 you can. If you wish for a specific slide to be
13 displayed, please let us know the slide number, if
14 possible. Finally, it would be helpful to
15 acknowledge the end of your question with a thank
16 you and end of your follow-up question with, "That
17 is all for my questions," so we can move on to the
18 next panel member.

19 We will now proceed with questions, and
20 Joyce is going to help me prioritize the questions.
21 I'm going to start with a question while you all
22 start figuring out to raise your hand in this Adobe

1 application.

2 This is a question for Greg and for
3 Dominik.

4 Since the implementation of the RACE Act,
5 do you have an idea of how often you get a combined
6 PSP and PIP submission by a sponsor? And when that
7 is not the case, when a specific drug application
8 goes either to the EMA or the FDA, is there some
9 kind of mechanism for crosstalk between those two
10 agencies to be sure that there are not duplicative
11 efforts, and to ensure that the same-in-class drugs
12 are not being developed separately; and again,
13 there's no duplicative efforts?

14 DR. REAMAN: This is Greg Reaman, Alberto,
15 and I can take a first stab.

16 I would say that the minority of
17 applications, or the minority of initial pediatric
18 study plans that we see are associated with P-I-Ps,
19 or PIPs, being submitted to the EMA at the same
20 time.

21 As you may know, we, from a regulatory
22 agency perspective, have actually recommended

1 simultaneous submission of pediatric investigation
2 plans to the EMA and pediatric study plans to the
3 FDA to accomplish exactly what you're asking. More
4 recently, we have seen there are concomitant
5 submission of these documents.

6 But again, I would just remind you that as
7 much as we think it is important to align our
8 thinking and decision making, there are very
9 significant timeline differences by which agreement
10 decisions must be made, and there are also
11 important differences in the impact of those
12 agreements with respect to initial versus more
13 definitive development.

14 But there are opportunities for us to
15 discuss jointly. We have regular meetings monthly,
16 pediatric cluster calls, which originated with
17 discussions between the EMA and the FDA, and now
18 include participants from other regulatory
19 agencies, including Health Canada, the PMDA in
20 Japan, and the TGA in Australia, where we have
21 sometimes general issue discussions, but more
22 importantly product-specific discussions related to

1 either initial investigations that come in as part
2 of pediatric study plans, or even more definitive
3 development plans that we see as components of
4 proposed pediatric study requests for written
5 requests.

6 So there definitely are opportunities for
7 us to have these discussions and to align to the
8 best of our abilities in the advice we provide to
9 sponsors. But again, I just want to point out that
10 there are timeline differences that sort of
11 preclude making this something that is always
12 available and immediately of use to all sponsors.

13 I'll let Dominik provide any additional
14 info that he might share.

15 DR. KARRES: Thank you very much. Thank
16 you very much for the question, and not much to
17 add.

18 Indeed, we're seeing only a minority of
19 publications being submitted simultaneously. While
20 similar to what Greg has mentioned, this is
21 something we would generally encourage to do in
22 order to use the interaction frameworks through the

1 clusters we have in place.

2 While at the same time, there is now
3 increasing reference in our PIP applications of how
4 sponsors intend to fulfill FDA requirements in view
5 of the RACE Act, something we also highly recommend
6 sponsors doing in their PIP publication so that we
7 have an understanding in terms of what are the
8 plans with regard to the global regulatory
9 requirement plans in that regard. And I'll stop
10 here. Thank you.

11 DR. PAPPO: Thank you very much. No
12 further questions.

13 The next person on queue is Steve DuBois.

14 DR. DuBOIS: Thank you, Alberto. Steve
15 DuBois from Dana-Farber. I really enjoyed
16 Dr. Duke's presentation and have a couple of
17 follow-up questions.

18 The first is, what is the agency's view on
19 the role of phase zero trials, which I often see
20 proposed in pediatric neuro-oncology in which
21 patients receive a dose, or several doses, of the
22 novel agent, and then undergo a standard-of-care

1 surgical resection?

2 The second question is, to what extent is
3 it known whether principles that apply to patients
4 with primary CNS tumors extend to patients with
5 solid tumors with CNS metastatic disease?

6 DR. DUKE: This is Elizabeth Duke. Sorry.
7 I had trouble unmuting.

8 Thanks, Dr. DuBois, for your questions, and
9 I think very interesting to think about. I think
10 the phase zero studies we are seeing, certainly, I
11 think can provide helpful information, particularly
12 when we're thinking about being able to really
13 understand what a particular compound is doing in
14 the brain tissue, which we often don't have that
15 information. We're often using other measures,
16 like I mentioned about CSS, or MRI findings, and
17 things that then aren't necessarily actively
18 happening in the brain tissue. So I do think those
19 can add helpful additional information.

20 In terms of the primary CNS tumors
21 extending to patients with solid tumors,
22 Dr. Reaman, I may defer to you in terms of how we

1 would think about that as it relates to the IPSPs.

2 DR. REAMAN: Hi. This is Greg Reaman. I
3 think, generally speaking, we would probably have
4 similar considerations for those solid tumors where
5 there's a high propensity for CNS metastatic
6 disease, generally a more common problem in
7 hematologic malignancies than in solid tumors.

8 I think the principles are essentially the
9 same, and I think we elected here to really
10 highlight the issue with primary CNS tumors because
11 of the clear unmet need and the emerging and
12 expanding evidence of specific molecular targets
13 that appear to be important therapeutic targets for
14 certain pediatric brain tumors.

15 I think in those pediatric solid tumors
16 where there is the risk of -- not necessarily the
17 risk of, but overt CNS metastases for which,
18 generally, there are significant unmet needs as
19 well, then I think the considerations about the CNS
20 penetrance and the importance of the ability of the
21 drug to reach tumors in the central nervous system
22 would certainly be considerations that would

1 influence our decision making regarding waivers.

2 Thanks.

3 Does that help?

4 DR. DuBOIS: Yes, absolutely. Thanks so
5 much.

6 No further questions, Dr. Pappo.

7 DR. PAPP0: Thank you very much, Steve.

8 Our next question is from Dr. Julia Glade
9 Bender.

10 DR. GLADE BENDER: Thank you, Dr. Pappo.

11 I think my question is predominantly for
12 Dr. Reaman, but also with a comment from Dr. Karres
13 because I seem to appreciate a difference in the
14 nimbleness of incorporating emerging data from the
15 EMA and the FDA.

16 So I wonder, Dr. Reaman, if you could
17 comment on the current process, obligations, and
18 consequences of modification to the initial
19 pediatric study plan for emerging data and how it
20 affects the overall drug development timeline;
21 because in my experience, when talking with
22 companies, there seems to be a big resistance to

1 entering into a modification process. Thank you.

2 DR. REAMAN: Sure. This is Greg Reaman. I
3 think the concept of a modification process is
4 more a pediatric investigation plan or EMA specific
5 rather than an FDA and pediatric study plan
6 specific situation.

7 To answer your question, given the fact
8 that we are still pretty early in the full
9 implementation of Section 504 FDARA and the RACE
10 Act, we haven't really had a large number of
11 requests from sponsors to amend their IPSPs, nor
12 have we had the indication to recommend to sponsors
13 or to actually amend initial pediatric study plans,
14 other than the fact that before the full
15 implementation date of August 18, 2020, we did see
16 a number of IPSPs for applications, where the drugs
17 were directed at relevant molecular targets or
18 targets that were relevant to pediatric cancers.
19 Although they refer adult indications, we had to
20 agree with the plan, the full waiver request,
21 because we were still operating in an environment
22 where the driver, if you will, was the adult

1 indication.

2 So we would advise sponsors that should
3 their application come in on or after August 18th,
4 that they would have to, either voluntarily or we
5 would require, amend their IPSPs to include their
6 plans for an initial early pediatric investigation.

7 But I think, in general, we would be open
8 to the consideration for revising a requirement for
9 the investigation of a drug. As I mentioned in my
10 opening remarks, if emerging science or an
11 alternate product became available that we knew
12 about that was the subject of a later application,
13 I think there could be some consideration for
14 revising the requirement of an investigation of a
15 same-in-class product should a, quote-unquote,
16 "potentially superior or more favorable"
17 same-in-class product emerged.

18 To date, we haven't had that experience,
19 but it may be something that we would consider, and
20 it's something that I hope you as committee members
21 would consider advising us, on how we might do that
22 and what the specific parameters might guide those

1 kinds of decisions. Thanks.

2 DR. PAPPO: Hopefully, I answered your
3 question.

4 DR. GLADE BENDER: I was going to say,
5 thank you, Dr. Pappo and Dr. Reaman. I think that
6 does answer my question.

7 DR. PAPPO: That answers your question,
8 Julia? Yes?

9 DR. GLADE BENDER: Yes. Thank you,
10 Dr. Pappo.

11 DR. PAPPO: Thank you.

12 We will now proceed to Dr. Richard Gorlick,
13 is next in line.

14 DR. GORLICK: Thank you. I'm Richard
15 Gorlick from MD Anderson Cancer Center. Thanks for
16 the opportunity to ask a question. This one also
17 is directed to Dr. Reaman, and somewhat brief.

18 Really, in thinking about same-in-class
19 drugs, with the example of ADCs, I think because of
20 their combinatorial nature, there are a lot of
21 possibilities quickly with multiple different
22 components, like the target, the antibody, the

1 drug, the linker.

2 How do you begin to distill a better drug
3 but the same antibody is different, or the worse,
4 or the same? Do you need one, two, or three to be
5 different, to be different drugs? I'm using the
6 ADC example as an example because I think the
7 challenge is defining how much of a difference in
8 property is necessary to make it something new, and
9 just wondered if you had any thoughts about that.
10 Thank you.

11 DR. REAMAN: Sure. Good question. Again,
12 generally these are developed for the same specific
13 adult indications, so we would really, I think,
14 look to some initial adult experience with the
15 product.

16 Despite the fact that the payloads may be
17 the same, the linkers might be the same, or
18 different, and the antibody may be the same but may
19 be a different immunoglobulin class, and therefore
20 a different antibody, we look at all of these as,
21 in quotes, "a new active ingredient." Then when
22 they are given in combination -- because you

1 mentioned the combinatorial approaches -- that
2 would constitute also a new active ingredient.

3 So we would look to see at whether or not
4 differences in any of those attributes of an ADC
5 might have some impact on the adult experience, as
6 minimal as it might be at the time. As far as
7 antibody binding, hitting the target, the potential
8 toxicity associated with free payload drug and
9 off-target toxicities that may be associated, they
10 would be the things that we would look at.

11 Unfortunately, the amount of information
12 that is sometimes -- and I would say -- generally
13 provided in the context of an initial pediatric
14 study plan may not always include that. So there
15 are questions that we may have in a written
16 response to a sponsor to provide more information.
17 That's one of the issues that I would like to
18 discuss later today, too, is how much and what sort
19 of specific information do you think we really need
20 to request from sponsors to include, to help guide
21 those decisions, and specifically the kind that
22 that you raised in your question.

1 DR. GORLICK: Very helpful. Thank you very
2 much.

3 DR. REAMAN: Sure. A pleasure.

4 DR. PAPPO: Ira Dunkel, you're next.

5 DR. DUNKEL: Thank you, Dr. Pappo. This is
6 Ira Dunkel, Memorial Sloan Kettering. My question
7 is for either FDA or EMA staff, and has to do with
8 the oral formulation issue, the liquid formulation
9 issue.

10 My question is whether this is a voluntary
11 or a mandatory responsibility for the sponsor. If
12 you have a drug where the target is relevant in
13 very young children, where we know that a liquid
14 formulation would be required, and swallowing
15 intact capsules or tablets will not be possible, is
16 the sponsor required to develop an oral formulation
17 suitable for the young children or is that strictly
18 voluntary on their part?

19 DR. REAMAN: This is Greg Reaman, and I can
20 maybe start from the FDA perspective as far as the
21 requirement.

22 The legislation, as it relates to PREA and

1 particularly the amended PREA, specifically states
2 appropriate formulation. If we extend that to
3 other regulation that governs drug development in
4 the pediatric age group, the Best Pharmaceuticals
5 for Children Act, when we issue written
6 requests -- BPCA -- there is a requirement for the
7 development of a pediatric-appropriate formulation,
8 and not only a requirement for its development, but
9 a requirement that it be made commercially
10 available.

11 It's more than a general suggestion. And
12 again, with the initial pediatric study plans, we
13 recognize that these are initial and limited
14 investigations, and early investigations. We would
15 rarely require the development of a
16 pediatric-appropriate formulation, a definitive
17 pediatric- appropriate formulation, so we would
18 look for some sort of extemporaneous compounding
19 procedure that would make the existing adult
20 formulations potentially appropriate for the
21 pediatric population but require some
22 bioavailability studies to make sure we're not

1 altering the potential absorption and PK of a
2 compounded formulation.

3 But maybe Dr. Shord would want to comment
4 further from the FDA side.

5 DR. SHORD: This is Stacy Shord. Thank
6 you, Dr. Reaman.

7 I think you addressed the regulatory
8 aspects of the question as far as whether or not an
9 age-appropriate formulation would require it, and I
10 think from my perspective, what I talked about this
11 morning, is just thinking about what formulation
12 would be appropriate for the age group that you're
13 looking at and whether or not the formulation that
14 is commercially available at this point can be
15 given to the pediatric age groups of interest or if
16 some modification needs to be made, and then
17 subsequently, those additional studies need to be
18 done, as Dr. Reaman mentioned, to make sure the PK
19 exposure is what we need it to be.

20 DR. REAMAN: Thanks, Stacy.

21 I might just add, Ira, also our reasons for
22 mentioning the formulation specifically was if we

1 have the same-in-class products where there is a
2 pediatric-appropriate formulation, or there is some
3 suggestion that one could be developed
4 extemporaneously, at least for initial
5 investigational purposes.

6 That may make that particular product more
7 favorable than another same-in-class product, where
8 a single formulation exists and that formulation
9 can't be compounded, so that children under the age
10 of six or seven, or five or six, who can't swallow
11 large tablets or capsules, wouldn't be able to be
12 enrolled on a study.

13 Maybe the EMA would like to comment here is
14 as well.

15 DR. KARRES: Thank you very much. This is
16 Dominik Karres. I'm having in mind that the
17 different objectives here, with the objective of a
18 PIP generating data sufficient for a pediatric
19 indication, quality developments are mandatory in
20 that regard, and I think my colleague, Dr. Wang,
21 will expand on that in her upcoming presentation
22 as well. Thank you

1 DR. DUNKEL: Thank you all for the
2 responses. I think someone alluded to this maybe
3 earlier in the meeting. I forgot which presenter,
4 but it does seem like there are examples where
5 within same-in-class agents being evaluated in
6 early pediatric case studies, some sponsors are
7 developing the liquid formulation very early and
8 others are not.

9 I wonder if there's any danger that -- of
10 course, there's an expense and a time and effort
11 obligation for the sponsor who develops the liquid
12 formulation, and I wonder if in any way we'd be
13 penalizing them for their goodwill efforts, and
14 they would be the ones obligated to do a study, and
15 someone else who may not choose to devote the
16 resources developing the liquid formulation might
17 get a waiver and not be mandated to do a pediatric
18 study. It sort of has a potential for a
19 paradoxical unintended reward and punishment or
20 something. Thank you very much.

21 DR. REAMAN: I really don't think we're too
22 interested in paradoxical rewards and punishments

1 here. I personally don't see advancing the public
2 health of children as equivalent to a punishment,
3 even for a pharmaceutical sponsor. We wouldn't
4 necessarily provide or agree to a full waiver for a
5 product for which a pediatric-appropriate
6 formulation doesn't exist or could not exist. It
7 might be a partial waiver, and age-related partial
8 waiver, or it could be a waiver specifically for
9 children who are unable to swallow tablets or
10 capsules.

11 So I think the likelihood that we would be
12 punishing or penalizing a sponsor I think is
13 probably not a significant concern here.

14 DR. DUNKEL: Thank you very much.

15 DR. FARLEY: Does that answer your
16 questions, Ira?

17 DR. DUNKEL: Yes, thank you very much,
18 Alberto.

19 DR. PAPPO: Thank you.

20 Our next person on the queue is Dr. Randy
21 Kolb.

22 DR. KOLB: Thank you, Dr. Pappo. I think

1 this is a continuation of Dr. Reaman's response to
2 Julia Glade Bender and Richard Gorlick.

3 As we accumulate phase 1 data, adult and
4 pediatric data, on agents where there may be
5 multiple versions in-class, at some point we have
6 to make a decision about an efficacy phase, and
7 recognizing for some rare subsets of the
8 malignancies we care for with these targeted
9 agents, we may only be able to do one or two
10 efficacy trials inclusive of the U.S. and Europe in
11 a 3- to 5-year time frame.

12 What's the best mechanism for us to meet
13 with the FDA and meet with the EMA to talk about
14 prioritization of those agents? I know that the
15 waiver deferral discussion is between the FDA, EMA,
16 and the commercial sponsor. Cooperative groups and
17 the academic communities will have opinions as
18 well, specifically on what is feasible for
19 evaluating efficacy, and there may be a lot of
20 information about dosing schedules, CONMED
21 interactions, and all the points that were raised
22 by the speakers today that could be reviewed in

1 totality to figure out which agents we prioritize
2 for the valuable phase 2 or phase 3 eligible
3 pediatric patient.

4 So I guess the question is, how do we have
5 those conversations? Is it the ODAC or are there
6 other opportunities that we should be pursuing?

7 DR. REAMAN: This is Greg Reaman. I will
8 try to address that, Randy. As I tried to make
9 clear, this particular discussion is really not
10 about prioritization beyond which initial
11 same-in-class products, or how many same-in-class
12 products, to evaluate in children in a limited
13 fashion, to evaluate dose and tolerability, and
14 seek a signal of activity. That's number one.

15 Number two is that not infrequently, as
16 part of the information provided by companies in
17 the initial pediatric study plans, there are
18 references to discussions, and there's information
19 from those discussions that sponsors have had with
20 key opinion leaders and clinical investigators in
21 the pediatric community about the specific
22 attributes of their product, which make it superior

1 to others, perhaps.

2 But I think the real question that you're
3 asking, or that I'm hearing at least, relates to
4 the well-known fact that this issue of community
5 drugs for too few patients extends beyond the
6 continuum of drug development in children, and
7 which of these products that are same in class
8 actually make it to more definitive development?

9 I think that is the discussion that is
10 multistakeholder in concept, and I think there are
11 other platforms for which those discussions can be
12 had. As you know, the ACCELERATE platform includes
13 regulators, as well as investigators, advocates,
14 patients, and industry sponsors. It includes
15 individuals from international cooperative groups,
16 as well as regulatory agencies.

17 So I think those kinds of decisions are
18 probably best made there, but I'm afraid we
19 wouldn't even be able to have those discussions if
20 we didn't at least have some preliminary
21 information in the earliest possible time frame
22 about some of these new products. If there are

1 reasons for evaluating multiple same-in-class new
2 products, then I would see that information as
3 hopefully guiding later-stage discussions about
4 prioritization; if that answers your question.

5 DR. KOLB: Yes, thank you; very helpful.

6 DR. PAPPO: We have next Dr. Albert Kraus.

7 DR. KRAUS: Yes. Can you can you hear me?

8 DR. PAPPO: Yes. Yes, we can.

9 DR. KRAUS: There we go. You can hear me?
10 Good. It's really a follow-up. I'm representing
11 regulated industry, and I think all these
12 presentations and considerations are really
13 excellent. We certainly don't want to do redundant
14 research and be treating patients when it's not
15 helpful, really, in the broader picture for
16 children's health.

17 The complication that I'm going to ask you
18 about, Dr. Reaman, is even if we do these things
19 we're talking about here and make all the
20 appropriate decisions, this issue of a lot of
21 mandated work, a lot of regulatory defined
22 requirement work in a very small pool of rare

1 disease patients of certain types, where we're
2 trying to do even more robust trials than
3 historical larger randomized cases -- which is all
4 good.

5 But I'm wondering, in all that, even if we
6 do all these things right, if we still have a big
7 problem and require a lot of prioritization that
8 perhaps doesn't meet the timings of regulations, or
9 perhaps doesn't meet what we're trying to do and
10 getting pushed to do as an industry, do we have
11 metrics on -- I know you're close to COG from
12 history as an ex-chair and other organizations.
13 Are we beginning to collect metrics of timings, and
14 accruals, and possibilities of trials?

15 It's young yet in this concern, but metrics
16 might help see where we are and where we can get
17 to, or can't get to. And I'm just wondering if
18 we're collecting those, or with FDA, if you're
19 beginning to collect timing. We're, as
20 industry -- I can speak from certain personal
21 experience and involvements I've
22 had -- increasingly trying, not just in U.S. and

1 Europe but around the world, to accrue, and it's
2 challenging. It's really challenging, and I know
3 flexibilities have been drawn.

4 But are we starting an effort -- it's in a
5 way similar to the prior question, but a little
6 different. Are we starting metrics on timings,
7 numbers, accruals, possibilities, COG issues,
8 et cetera, et cetera, so we can keep track of how
9 we can best apply this sparing [indiscernible]
10 resource?

11 DR. REAMAN: Excellent question, Mr. Kraus.
12 We are certainly interested in collecting those
13 data. We obviously have a requirement, a
14 legislatively mandated requirement, to report to
15 Congress periodically on the impact of the
16 legislative initiatives on pediatric drug
17 development, and now specifically on pediatric
18 cancer drug development.

19 As you point out, it's still relatively
20 early with respect to FDARA, Section 504 and the
21 impact, but I think we have definitely seen an
22 increase, which I've tried to mention previously,

1 with the number of commitments for studies, and
2 more importantly, studies already in progress,
3 which is something that we did not see two years
4 ago, three years ago.

5 So clearly, this is having some impact. I
6 recognize the challenges, but one of the major
7 challenges to pediatric cancer drug development was
8 getting to first base with respect to being able to
9 do early-phase studies to investigate promising or
10 potential new therapeutic agents. And unlike every
11 other clinical indication, cancer was excluded,
12 basically, from the requirements of the Pediatric
13 Research Equity Act because the cancers of children
14 and the cancers of adults are different.

15 So I think both in the EU with the
16 mechanism of action requirements and in the U.S.,
17 this is addressing an important first step in
18 trying to improve the number of -- and not just the
19 number of studies, but the timing of when those
20 studies are initiated, and hopefully the ultimate
21 timing of when effective drugs are approved for use
22 in children relative to their approved use in

1 adults.

2 So to answer your question briefly, yes,
3 we're interested in metrics. We are interested in
4 collecting data to make sure we are doing what we
5 have been legislatively mandated and authorized to
6 do to advance the public health of children with
7 cancer.

8 DR. KRAUS: Thank you. I appreciate that,
9 and I think, obviously, the industry associations
10 can help participate because I think there will be
11 challenges down the road, and a lot of good
12 progress, as you say, but we're seeing early signs
13 of some challenges, as I think you are.

14 DR. REAMAN: Yes. I think we have to work
15 together, and I think we're eager to work together
16 to face those challenges, and overcome those
17 challenges, and be somewhat creative and
18 innovative. I think it's going to require some
19 bold steps on the part of industry and regulatory
20 agencies in order to get there, but we recognize
21 that we're in this together, and that's what we
22 hope we're able to do moving forward.

1 DR. KRAUS: Thank you. And certainly, many
2 in industry are happy to work together, and want to
3 make sure it all works for the right intended
4 outcomes, right?

5 DR. REAMAN: Right.

6 DR. PAPPO: Does that answer your concerns
7 and your questions, Dr. Kraus?

8 DR. KRAUS: Oh, yes, fully answered. Thank
9 you very much, Dr. Reaman.

10 DR. PAPPO: Okay. Now we have Dr. Ro
11 Bagatell.

12 DR. BAGATELL: Hi. Thank you so much. My
13 question is really a matter of just information
14 that we can learn from other areas of medicine. I
15 think that many of us are very focused on pediatric
16 oncology and sometimes think about adult oncology,
17 but sometimes think about pediatric medicine in
18 general. But certainly throughout medicine, there
19 are a number of small patient groups in whom it's
20 difficult to do the kinds of studies that we've
21 been talking about.

22 I wonder, maybe from both the FDA and EMA

1 representative, if there are lessons that we can
2 learn from patients with rare diseases in terms of
3 defining how much of the same is the same and how
4 much different is different, a little bit going
5 back to what Dr. Gorlick was talking about, both
6 with regards to the structure of molecules, their
7 mechanism of action, and how different is different
8 when it comes to safety profiles.

9 So, in short, I guess my question is, can
10 we learn from other patients and studies in groups
11 with limited populations? Thank you.

12 DR. REAMAN: I'll take a first stab -- this
13 is Greg Reaman -- and then let Dominik have some
14 opportunity as well.

15 Basically, I think in the rare disease
16 space, their situation is decidedly different from
17 what we're talking about here in that there are
18 few, if any, drugs that are actually being
19 developed, or are developed, and under
20 investigation for those small populations.

21 Here we have an opportunity to develop
22 multiple drugs, or actually to investigate multiple

1 drugs, in rare populations. It's a little bit
2 different. I don't think there is much opportunity
3 to learn from the rare disease experience about
4 issues or factors that might be helpful in
5 prioritization.

6 There clearly are opportunities, and
7 opportunities that we at the FDA share with our
8 rare disease colleagues with respect to innovative
9 study designs, doing definitive studies in
10 populations where limited sample sizes may make it
11 difficult, if not sometimes impossible to do
12 randomized-controlled trials, and looking at
13 Bayesian adaptive approaches, hybrid approaches,
14 and the concept of external controls. But that's
15 an issue that's sort of outside of what we're
16 discussing here with respect to having more drugs
17 than we can effectively study, given the small
18 number of patients that we have.

19 I'll ask Dominik -- Dr. Karres -- if he has
20 anything to add.

21 DR. KARRES: Thank you very much,
22 Dr. Reaman, and thanks for the question.

1 I think what we have learned from an
2 EMA/PDCO perspective over the past 10 to 14 years,
3 since we've had the European pediatric regulation,
4 is I think that not always when we think something
5 is the same, it actually is the same; and
6 vice versa, if we think it is the same, at the end
7 we might realize through emerging evidence that it
8 is not.

9 That's I think something we're trying to
10 take into consideration here through the approach
11 we're taking towards supporting our decision making
12 based on science and evidence and allowing for
13 repeated cycles of evidence generation and
14 reconsiderations as necessary, while at the same
15 time -- and I think that's something where I
16 believe the pediatric oncology community and spaces
17 are really ahead compared to other rare disease
18 areas -- acknowledging that doing this together can
19 only be done successfully in collaboration across
20 all stakeholders. Here, I would argue that, there,
21 the pediatric oncology committee is even ahead.

22 I'll stop here, and I hope that helped.

1 Thank you.

2 DR. BAGATELL: Thank you, Dr. Pappo.

3 The issues are numerous, and it's
4 interesting to think about the pediatric oncology
5 community being out ahead. Thank you very much.

6 DR. PAPP0: Thank you for your question.

7 Dr. Ted Laetsch is next.

8 DR. LAETSCH: Thank you, Dr. Pappo.

9 My question goes back to what had been
10 previously asked about emerging data, and just
11 thinking not only about emerging data for the
12 specific agents or the specific targets that have
13 been previously identified, but if there are
14 emerging data, that a target may be relevant to a
15 different pediatric cancer or different pediatric
16 marker that had been originally studied, how does
17 that factor into requirements for next-in-class
18 agents that may be submitted to the FDA or EMA?

19 I'm just thinking that the early nature of
20 these requirements may have made those targets not
21 relevant for the earlier agents in-class that may
22 still be relevant, even if there's a dose and

1 safety data of one agent in that class.

2 DR. REAMAN: This is Greg Reaman. I'll try
3 again to answer your question, Ted. Again, I think
4 that's a little bit separate from the evaluation of
5 multiple same-in-class agents, but our evaluation
6 of all of these requirements, with respect to the
7 potential relevance of a molecular target, is
8 something that is evolving and has evolved since
9 the development of the original relevant molecular
10 target list.

11 As we put that together, there were limited
12 data available, limited publicly available data
13 sets, and they have now certainly increased in the
14 three or four years, or longer, and that
15 information certainly influences decisions
16 initially when there are requests from sponsors
17 about potential waivers or planned waivers, given
18 the fact that something is on the relevant
19 molecular target list.

20 We made that very clear in the very
21 beginning; that the mere existence of a target on
22 the list wouldn't require pediatric investigations,

1 and I think we've fulfilled that promise a number
2 of times. We recognize what we thought may have
3 been relatively prevalent associations between a
4 specific target and pediatric cancers, in general,
5 or specific pediatric cancer diagnoses. When we
6 see more data that suggests that's not quite as
7 prevalent as we thought and that there really are a
8 very limited number of patients, then the
9 opportunities to consider waivers, I think, are
10 clearly there.

11 When it's just an issue of small numbers,
12 but it remains a potentially relevant target, are
13 there opportunities for enrolling some children on
14 early adult trials, looking at safety and early
15 signs of activity or effectiveness? This was never
16 intended to be a static guide to decision making,
17 and we recognized from the very beginning that we
18 would have to make changes as we went along here.
19 The big change that we wanted to see made was that
20 when it's appropriate, consideration of pediatric
21 investigations, or investigation of products in the
22 pediatric population, happened early, not 6 years,

1 10 years after the fact. That's still, I think,
2 what guides how we adjudicate any waiver requests
3 that still come in, and I would like to think
4 that's how we'll likely continue to do it.

5 DR. LAETSCH: Thank you, Dr. Reaman. That
6 answered my question.

7 DR. PAPP0: Does that answer your question,
8 Ted?

9 DR. LAETSCH: Yes. Thank you, Alberto.

10 DR. PAPP0: Thank you.

11 I see a couple of hands that are still up.
12 I don't know if you just forgot to put them down or
13 you have a question. I want to remind you that
14 it's 12:30, so we're going to break for lunch, but
15 we're going to have a second session for questions
16 and answers at 2:15.

17 So for now, we will break for lunch. We
18 will reconvene at 1:00 p.m. Eastern
19 Standard [inaudible - audio gap] time. Please
20 remember that there should be no chatting or
21 discussion of the meeting topic with anyone during
22 the break. Additionally, you should plan to rejoin

1 us at around 12:50 p.m. to ensure you are connected
2 before we reconvene at 1:00 p.m. Thank you, and
3 we'll see you in about 30 minutes.

4 (Whereupon, at 12:31 p.m., a lunch recess
5 was taken.)
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

1 A F T E R N O O N S E S S I O N

2 (1:00 p.m.)

3 DR. PAPPO: Welcome back, and I hope that
4 you enjoyed your break. We will start with guest
5 speaker presentations. We will now proceed with
6 the guest speaker presentation by Dr. Siri Wang.

7 **Guest Speaker Presentation - Siri Wang**

8 DR. WANG: Yes. Thank you very much for
9 inviting us. My name is Siri Wang, and I am a
10 scientific director at the Norwegian Medicines
11 Agency and a member of the pediatric committee at
12 the European Medicines Agency. I have been asked
13 to share some considerations related to quality and
14 formulation aspects for this discussion, so clearly
15 this will add on to some of the issues already
16 touched upon very nicely by previous presenters.
17 And of course in line with the previous
18 presentation from Dr. Karres and Dr. van Malderen,
19 this will then be the EU perspective, and this is
20 my disclaimer, definitely.

21 Before we slide into the potential elements
22 that could affect the waiver discussion, or the

1 prioritization discussion, I would like to take one
2 step back. For every product that comes to our
3 table for potential development in children, this
4 is what we want to see; that any formulation
5 proposed gives the accurate dose and is safe and
6 acceptable. This would apply for the full target
7 age range that we consider in need for this
8 product, potentially, for this specific condition.
9 In this sense, the requirements for an appropriate
10 formulation is the default, and, therefore, waivers
11 based on appropriateness of the formulation as such
12 would really be an issue.

13 To answer the question raised in the
14 previous session, normally this would really imply
15 a specific formulation, but we also see cases where
16 it could be that the adult form is being
17 manipulated. However, this will normally not be
18 the default, and we would stress the need for any
19 such approach to meet the same requirements as
20 listed here related to accuracy of the dose,
21 including bioavailability data, as was mentioned,
22 including any need for uniformity of those

1 assessments and acceptability data for any such
2 approach; for example, challenges in taste issues
3 when you open the capsules and so on and so forth;
4 also safety elements to be considered, including
5 safety in handling by the caregivers, risk of
6 medication errors, and these kind of safety
7 aspects. But the default is we would normally
8 require a specific periodic formulation, yes.

9 Still, although we do normally not then
10 accept waivers based on formulation, when we assess
11 the unmet need for any condition and the potential
12 added value for any new treatment, of course both
13 the route and formulation/quality aspects are, of
14 course, also considered.

15 One of these two sets is being discussed
16 rather often, is this aspect of the specific route,
17 as has also already been mentioned by previous
18 speakers. The pros and cons of a proposed route
19 are quite often discussed, and the oral versus the
20 parenteral is a good example.

21 Some factors would favor oral, and listed
22 here are some very brief perspectives, for example,

1 when comparing an oral versus a parenteral product.
2 An oral product will quite often facilitate home
3 treatment, while a parenteral -- for example, an
4 IV -- would foresee hospital stay. Of course,
5 invasiveness will differ. Access to treatment
6 might be significantly different for oral versus
7 parenteral treatment both for the clinical trial
8 setting, but also potentially for later use.

9 On the other hand, oral products may also
10 imply some delay that could be not very helpful, so
11 to say, both in the development and in actual
12 availability if a pediatric-specific formulation
13 needs to be developed. This was also very clearly
14 illustrated by one of the previous speakers, where
15 patients de facto are being excluded due to lack of
16 age-appropriate formulation in the clinical trial.

17 Also, later related to the marketing
18 strategy from industry, the decisions to actually
19 launch the pediatric-specific formulations in all
20 regions could really affect availability of these
21 periodic-specific formulations. For parenteral
22 products, at least when they are identical as the

1 adult forms, these problems are not really an
2 issue, and the risk for delay is most likely
3 reduced for those kind of products.

4 From this perspective, prioritization based
5 on route, as such, potentially can be challenging
6 in our view, as the different approaches really
7 have different advantages. Of course, on the other
8 hand, in a setting where we, for example, already
9 have one, two, or three IV products in the same
10 class, an oral product definitely may provide added
11 value for sure.

12 Trying to illustrate some of the discussions
13 we are having on formulation characteristics -- so
14 the formulations as such, and not the
15 route -- quite often we see approaches where
16 companies are clearly arguing that their products
17 are not suitable for children most often, and then
18 of course the youngest age groups, and therefore
19 asking the waivers. This could be due to dosing
20 issues, acceptability issues, or safety issues, or
21 all three together, definitely.

22 This approach is, I would say, solely driven

1 by the adult perspective, so to say, and not really
2 driven by the actual need for pediatric formulation
3 in the target age group, which is where our
4 perspective comes from to decide on the
5 appropriateness of the formulation. In general, as
6 we would normally always request an age-appropriate
7 formulation for each development, this
8 justification would normally not fly from our side.

9 The second argument we see every now and
10 then is that the development of an age-appropriate
11 formulation would not be feasible, mainly for
12 technical reasons. There could be challenges in
13 taste masking, challenges related to coating,
14 prolonged release approaches that are not that
15 feasible for the age-appropriate formulations, or
16 excipient issues.

17 I would say that, generally, it's our
18 experience that at the early stage of the PIP
19 discussion, when they come, at their first
20 discussion with us, these aspects are very often
21 not sufficiently justified. They could be,
22 theoretically, at later stage more relevant to

1 come, but as an initial early stage, these kind of
2 justifications are generally not will justified,
3 and therefore not, at that time at least, a
4 rationale for a waiver.

5 Of course, sometimes a formulation approach
6 proposed for adults could be considered
7 particularly useful in children, for example, if
8 you have a particularly convenient administration,
9 simplified regimens, treatment durations, or APIs
10 with long half-lives being long-acting, or
11 prolonged released approaches and things like that.

12 Of course, in these cases, we would
13 definitely like to see pediatric development also
14 here, of course, and in these cases, we could, in
15 theory, discuss pushing development for such
16 products by potentially limiting the deferrals.
17 But I have to say that more often there are
18 clinical and nonclinical issues that are driving
19 our discussions about deferrals and not that much
20 the formulation issues.

21 To sum up, the formulation characteristics
22 as such are rarely reasons for waiver, at least not

1 initially. There could be settings and situations
2 where we've had discussions on where the products
3 are, as such, considering their formulation aspects
4 would meet a medical need in children, and
5 therefore should or should not be developed. We've
6 had a couple of oncology discussions on this, and I
7 put here some examples related to fixed-dose
8 combinations.

9 One of the examples is a product, a
10 fixed-dose combination, consisting of two APIs or
11 two substances intended for intravenous use. In
12 this case, we already had existing products for
13 each component individually, and we also had PIPs,
14 pediatric investigation plans, for each component
15 individually, also actually involving this
16 combination treatment for this product that was
17 presented to us, the combination product.

18 There were discussions clearly about the
19 added value of any such combination products, and
20 clearly here, in addition, there would not be a
21 question about additional clinical studies, so
22 slightly outside the scope of the problem stated

1 here where we have a crowded area and unlimited
2 possibilities to do clinical trials. For sure,
3 this type of discussion will not be an early-stage
4 decision or discussion, but really a very
5 late-stage discussion, so limited relevance maybe
6 here, but this was a case where we could have
7 considered a waiver approach, for sure.

8 The other example is for oral products, also
9 fixed-dose combination, two oral substances, where
10 one of those components were available as an
11 existing product but the other was considered a new
12 API. Here initially, the company proposed waiver
13 lists below 6 years old due to a claim that
14 children were unable to swallow the tablets, as
15 such, that were developed for adults, and that
16 development of age-appropriate formulation was
17 unfeasible and resuspensions were impractical.

18 We considered this, along with all the other
19 elements of the PIP, not a duly justified approach.
20 After we discussed with the company and also
21 stressed the unmet need in the full pediatric age
22 range, we agreed for a waiver below 3 months and

1 also agreed on an age-appropriate formulation
2 proposed, an oral solid formulation, oral granules
3 or powder for oral liquid, in addition to some
4 strategies they would approach to enable the adult
5 form and enable dosing until the pediatric
6 formulation was further developed.

7 Having said all this, I would like to stress
8 that when we do this, we apply some sort of
9 evolutionary approach also for formulations because
10 at the time of the initial PIP discussions with us,
11 it might definitely be too early for the very
12 details of formulation strategy.

13 One example here, this was an oncology
14 product developed initially for adults and
15 adolescents, being hard capsules, two strengths,
16 size 2 and size zero, which are rather large-sized
17 capsules. You could question whether they would
18 actually be suitable also for adolescents, but
19 that's another story. The hard capsules should be
20 swallowed whole and could not be open or dissolved.
21 The content of the capsules, however, are very
22 bitter, so really not a valid approach, and the

1 addition to pediatric dosing was not yet fully
2 clear.

3 So at the early stage of this PIP
4 discussion, we put into the PIP opinion sort of a
5 placeholder PIP measure that they would have to
6 develop an age-appropriate solid dosage form, and
7 then the details of any age-appropriate form needs
8 to be agreed by PDCO before study initiation, so at
9 later stage, and then including more details about
10 the formulation, the excipients, the type of
11 productibility [indiscernible], acceptability
12 studies that we're going to pursue, so on and so
13 forth.

14 These elements are in the initial PIPS, but
15 at the later modification, then the details and the
16 specifics will be included. They did so, and then
17 decided, based on their evolving knowledge, they
18 would go from coated granules, and also clearly
19 spelled out the acceptability measures, and the
20 nasogastric tube strategies, and so on and so
21 forth. Although we put the requirements, we'll
22 also consider that at the early stage, the details

1 might not be really feasible to agree on at the
2 early stage.

3 In conclusion, waiver based on route
4 formulation or quality issues are really considered
5 relevant for us in the EU, but of course later
6 modifications could be possible, potentially also
7 including waivers, of course, but then needs to be
8 really properly justified, but definitely not at
9 early stage.

10 On the contrary, it's our experience that
11 early considerations regarding the strategy on
12 age-appropriate formulation is really crucial,
13 coming early and thinking early, as it will ensure
14 timely progress of the pediatric studies. I also
15 dare to say it will most likely optimize the
16 clinical trial outcome. You will have more
17 accurate dosing during the clinical trial, you will
18 have, hopefully, better compliance during the
19 clinical trial, and you will include the full
20 relevant age group as also previously flagged by
21 others.

22 In the end, all this will be important for

1 the timely authorization and also for the youngest,
2 which altogether would affect access and
3 availability of the treatment, which is our main
4 goal, I assume. But to also answer one of the
5 questions raised earlier today, we are not really
6 considering those who come early with a clear plan
7 as more interesting or more relevant to prioritize,
8 if you put it like that, but we see also some
9 benefit, definitely, in those coming early also for
10 the full development strategy.

11 So by this, I would like to thank you for
12 your attention and also to thank my colleagues in
13 the committee for their contribution. Thank you.

14 DR. PAPPO: Thank you very much for your
15 presentation, Dr. Wang.

16 We will now proceed with our next guest
17 speaker presentation, Dr. Scott Diede.

18 **Guest Speaker Presentation - Scott Diede**

19 DR. DIEDE: Hi. Thank you very much,
20 Dr. Pappo, and thank you to the pedsODAC Committee
21 for allowing me the opportunity to speak today.

22 My name is Scott Diede. I'm a pediatric

1 oncologist by training, and I help lead pediatric
2 oncology development at Merck. Today, I'm going to
3 give an industry perspective, but maybe more
4 accurately a personal perspective on waiving
5 requirements for pediatric investigations in the
6 same-class products. These are my financial
7 disclosures.

8 Briefly, I'm just going to touch on some of
9 the key points of the RACE for Children Act that
10 have already been gone over in excellent detail
11 with some of the previous presentations today, so
12 I'll keep that brief. I thought I would use as a
13 case study the use and studying of anti-PD-1, or
14 L1, inhibitors, checkpoint inhibitors in children,
15 because I think that story is very illustrative of
16 some of the challenges about what we're talking
17 today about same-in-class products.

18 I think in terms of how we dealt with this
19 as a community, I think there were some very
20 positive aspects of it, but I also do think it
21 highlights some of the challenges for the future,
22 given how fast paced oncology drug development is,

1 and sometimes we have to act with limited amounts
2 of information to decide what compounds are in fact
3 best studied in children, and how many of those
4 should be studied at the same time, so I'll talk
5 also about the challenges and opportunities around
6 this.

7 The RACE for Children Act, I really do think
8 what emphasized from an industry perspective,
9 really, I think revolutionized recently how we look
10 at pediatric drug development. In terms of
11 previous to RACE for Children, sponsors could get
12 an adult indication-based waiver or an orphan
13 indication-based waiver, and with RACE for
14 Children, that all changed to trying to determine
15 whether or not your therapeutic, based on mechanism
16 of action, has relevance in pediatric cancer. And
17 then if it did, put it on a list, if you will, to
18 be really strongly considered about should it be
19 studied in children, and what would be the best
20 tumor types perhaps to do this.

21 The overarching goal of the RACE for
22 Children Act was really, I think, to focus on

1 accelerating appropriate initial pediatric
2 evaluations, and to have this happen much earlier
3 in the developmental timeline of drugs, as
4 Dr. Reaman has pointed out in some of his comments
5 and questions that he answered.

6 This legislation has stimulated, I think, a
7 lot of excellent conversations and work in
8 pediatric cancer, but there are still challenges on
9 how exactly best to implement the RACE for Children
10 Act, and that's part of the reason that we're
11 meeting today.

12 I wanted to touch on some of the
13 considerations for granting waivers that the RACE
14 for Children Act actually allows, and these three
15 are different than the ones that we've spoken of to
16 some degree -- though except for the most recent
17 talk we just heard -- in terms of it would make
18 sense to potentially grant a waiver if there was
19 serious toxicity concerns for a given compound
20 based on toxicity in particular pediatric age
21 groups or concerns around severe developmental
22 toxicities perhaps discovered in nonclinical

1 models.

2 Then lastly, when due diligence has been
3 done, it is possible to have the conversation about
4 whether or not a sponsor should be granted a waiver
5 for age-appropriate pediatric formulations for
6 particular age groups, but once again, I think
7 there are a lot of opportunities to actually be
8 able to test, at least in initial studies, what is
9 required for the RACE for Children Act in terms of
10 trying to get some idea of dose finding, safety,
11 and initial PK before launching into the much more
12 extensive and sometimes challenging, more permanent
13 pediatric formulation that could come down the road
14 if in fact there is some activity worth pursuing.

15 For these two bullet points, this gets more
16 to the topic at hand today in terms of looking at
17 waivers for later generation products that have a
18 lot of these characteristics that have been spoken
19 about today in terms of how do you actually define
20 same in class in terms of looking at pharmacologic
21 parameters, toxicity, and efficacy; and whether or
22 not if there are, in fact, multiple same-in-class

1 products that for all intents and purposes look
2 very similar, it doesn't make sense to continue to
3 actually have to study this in children.

4 Then also, for those drugs that actually
5 there already have been pediatric studies that have
6 shown that the target in a way is not relevant to
7 pediatric cancer, it might make sense to also grant
8 a waiver in that particular instance.

9 One challenge is, how do we prioritize
10 studies of several similar targeted therapies in
11 the same pediatric population? Because as we all
12 know, there is a limited number of pediatric
13 patients, and there should be a reasonable
14 expectation for possible direct benefit for a
15 patient when they participate in a phase 1/2 study.
16 This is an excellent problem to have, in a way.
17 One, pediatric cancer is rare, which is a good
18 thing, and now because of RACE for Children Act, we
19 actually have a plethora of possible therapeutics
20 to study in children.

21 But the question then remains, which ones to
22 study just because you can't study not even close

1 to them; let alone even within a company, sometimes
2 if you have to study this in a particular pediatric
3 tumor type, you might not actually be able to find
4 enough of those patients to be able to do even the
5 initial pediatric study plan requirement type of
6 studies.

7 To help sponsors navigate this, especially
8 around this question about same-in-class waivers,
9 the great thing about the RACE for Children Act is
10 that it did actually specifically institute a new
11 mechanism to allow efficient early communication
12 with sponsors and the FDA, and that's through early
13 advice meetings or Type F meetings. These meetings
14 are actually scheduled and held within 30 days of
15 the request, which is pretty amazing in terms of
16 the turnaround. And I would emphasize for sponsors
17 that this is an excellent way to have that initial
18 discussion with the FDA about your plan, be it
19 about same-in-class waivers or otherwise, just for
20 your initial PSP.

21 I'd like to turn to using a case study of
22 checkpoint inhibitors, which I'm most familiar with

1 because I was involved with this at Merck. The use
2 of checkpoint inhibitors, such as anti-PD-1 or
3 anti-PD-L1 blocking antibodies, really has led to
4 improved outcomes in a wide variety of adult
5 cancers and really has revolutionized cancer care
6 over the last maybe 7-8 years since they were first
7 approved. However, we have a long way to go.

8 Based on this excitement, what we were
9 seeing about trying to harness the immune system to
10 help the body fight cancer, back in 2015, there
11 were actually three pediatric phase 1-2 studies
12 that were initiated, studying either atezolizumab,
13 nivolumab, or pembrolizumab, and these were all
14 started within about 9 months of each other.

15 After these studies basically started,
16 enrolled, and then were gathering data, in
17 September of 2018, which is actually pretty fast,
18 there was data from over 250 patients just from
19 these three studies, and as a community of
20 industry, academics, patient groups, it was
21 thought, "Where do we go from here? We really
22 should look at the data to determine what is the

1 best path for this class of compounds." I just
2 listed the three initial ones here that launched
3 these pediatric studies, and there are greater
4 than -- I think I've lost count -- 20 or 30
5 different anti-PD-1 and L1's that came after these
6 initial drugs, and the question was, "Are we going
7 to require pediatric testing for all of those?"

8 There was a forum that was jointly organized
9 by ACCELERATE and also the EMA. This ACCELERATE, a
10 pediatric strategy forum, was created to evaluate
11 the science and to really be able to facilitate
12 constructive dialogue between the many stakeholders
13 involved in pediatric oncology drug development so
14 that we could move forward as a community to
15 develop medicines in the best interests of children
16 and adolescents with cancers.

17 I'd like to emphasize the multistakeholder
18 nature of ACCELERATE, in terms of it really
19 involves patients and patient advocates;
20 clinicians; academics; industry; and then very
21 importantly, regulators. I started attending these
22 I think back in 2015, and these have been wonderful

1 forums. We also have yearly meetings to help
2 discuss pertinent topics in pediatric oncology, and
3 in every case, regulators from the EMA, FDA, Health
4 Canada, sometimes TGA, have always come to these to
5 be able to have these types of discussions.

6 An ACCELERATE pediatric strategy forum was
7 held on checkpoint inhibitors, and this was the
8 finding after looking at the data from those three
9 phase 1/2 trials. On the positive side, there was
10 a high rate of activity for monotherapy checkpoint
11 inhibitors, including even complete responses in
12 Hodgkin's lymphoma, and there was less data on
13 hypermutant tumors; but very impressively because
14 Hodgkin's lymphoma is more prevalent, and we had
15 more data, that it really revolutionized just
16 monotherapy, the responses we were seeing in highly
17 refractory Hodgkin's lymphoma patients.

18 Unfortunately, there was very limited
19 activity of monotherapy checkpoint inhibitors in
20 the vast majority of other tumor types looked at.
21 All of these phase 1/2 trials were solid-tumor
22 basket trials in addition to lymphoma, and

1 unfortunately, if you actually take out the
2 responses seen with Hodgkin's lymphoma, the overall
3 response rate was only 2.8 percent.

4 So one of the important conclusions of this
5 forum was that there really is no benefit to be
6 included in new monotherapy trials of checkpoint
7 inhibitors that use this same mechanism of action
8 unless there is some new piece of scientific
9 knowledge that might make sense to reopen, perhaps
10 studying PD-1 inhibitors in these solid tumors
11 where we initially did not see activity.

12 Another conclusion of this forum was that
13 academic industry consensus on the scientific
14 merits of a proposal of either a pediatric
15 investigation plan, be it an IPSP or a PIP, would
16 actually be a great benefit to regulators; in other
17 words, the sponsor to bring this information when
18 they're having discussions about their plan for
19 their drug. Having this multistakeholder feedback
20 I think makes a strong case for if a sponsor wants
21 to move forward with why they think they should be
22 studying their drug of interest in children, that

1 they have the backing of the community.

2 Then also just as importantly -- and this is
3 getting to the waiver aspect -- is that if the
4 community says, yes, we actually agree that we do
5 not think it makes sense to continue to study this
6 class of drug in children, that's also a very
7 important piece of information.

8 This I think really benefited the 20-plus
9 companies, if you will, that came after these
10 initial three in that the EMA and the FDA really
11 used the feedback from this forum to help guide
12 those companies about pediatric study plans. So I
13 think this mechanism has worked wonders in terms
14 of really being able to inform on this.

15 But some of the challenges around this, some
16 of the questions I had is that it worked great for
17 the compounds that came afterward, but one question
18 I had is, how many compounds should we initially be
19 testing in children when we have little data?
20 Should we test one, or two; maybe three? I think
21 there was consensus that 250 children probably was
22 going to weigh too much, if you will, in terms of

1 was that the best use of everyone's resources, and
2 most importantly, was it the best for children?

3 Another challenge and opportunity with
4 pediatric drug development is global collaboration
5 is essential, and there's a variety of ways which
6 this could happen, and there's a variety of
7 mechanisms that sponsors can work with health
8 regulatory agencies. Some of these have been
9 mentioned today, and I would encourage early
10 interactions with sponsors to be able to take
11 advantage, potentially, of pediatric cluster calls,
12 common commentary processes, and perhaps even
13 formal parallel scientific advice mechanisms, as
14 well as these international multistakeholder
15 meetings like ACCELERATE.

16 In summary, I'd like to include that I think
17 the RACE for Children Act, I think, provides a lot
18 of opportunity, and there are some challenges,
19 especially with today's topic of same in class.
20 It's not a trivial question about how do you define
21 same in class, and that is hard, but I think one of
22 the important things is having these early

1 discussions; and having enough time to have these
2 discussions with multistakeholders, as well as
3 regulators, I think will allow us to come to the
4 best conclusion for how to develop a drug if it's
5 warranted in children. Strategy and the resulting
6 regulatory requirements around this always should
7 be driven by the science because the science always
8 drives what's best, in this case, for children.
9 Thank you.

10 **Clarifying Questions**

11 DR. PAPPO: Thank you very much for your
12 excellent talk, Dr. Diede.

13 We now have about 10 minutes for clarifying
14 questions for both Drs. Wang and Diede. Please use
15 the raise-hand icon to indicate that you have a
16 question, and remember to clear the icon after you
17 have asked the question.

18 When acknowledged, please remember to state
19 your name for the record before you speak and
20 direct your question to a specific presenter, if
21 you can. If you wish for a specific slide to be
22 displayed, please let us know the slide number, if

1 possible. Finally, it would be helpful to
2 acknowledge the end of your question with a thank
3 you, and that follow-up question with, "That is all
4 for my questions for now," so we can move on to the
5 next panel member.

6 We have already Steve DuBois as the first
7 person who would like to ask a question.

8 DR. DuBOIS: Thank you, Dr. Pappo.

9 Steve DuBois from Dana-Farber. I have a
10 question for Dr. Wang and a question for Dr. Diede,
11 so maybe I'll start with Dr. Wang.

12 Thank you for that presentation. I
13 appreciated your comment about being able to
14 administer an appropriate dose to a patient.
15 Sometimes in our first-in-child clinical trials, we
16 end up -- because of the available pill sizes, even
17 for children who can swallow pills -- where we're
18 not able to give them an appropriate dose due to
19 rounding between their calculated dose and the dose
20 that we could actually administer with even a
21 capsule formulation.

22 Is there a precedent where, I guess in your

1 case, the EMA has mandated different capsule sizes
2 early on in development to enable more appropriate
3 dosing of pediatric patients?

4 DR. WANG: Thank you for that question.
5 Whether we have been specific on actual capsule
6 sizes for the initial clinical trials, I cannot
7 really remember. But really, the essential, both
8 from an acceptability point of view, being able to
9 swallow a smaller capsule, but also to have the
10 appropriate dose would be essential, indeed.

11 I cannot remember if we necessarily have
12 requested it, but I definitely would say it would
13 be a brilliant idea, both for dosing perspectives
14 and for acceptability perspectives. Whether this
15 is an intermediate approach or the final approach
16 would probably depend on the setting. Quite often,
17 maybe someone would rather go for a strategy where
18 you could have a formulation that is more flexible,
19 like an oral liquid, for example, or powder, but
20 then, again, taste issues might be an issue, and so
21 on and so forth.

22 So we are open for different strategies

1 here. And to answer your question, again, I don't
2 think we have been very specific on exactly that
3 for the clinical trial approach.

4 But Dominik, also if you have any additions
5 to that, please add if needed. I hope this
6 answered your question.

7 DR. DuBOIS: Yes, thank you. That's very
8 helpful.

9 Dr. Diede, thank you for your comments. I
10 really like your idea or suggestion that this be
11 sort of an iterative process and that the science
12 be re-evaluated over time to decide whether a
13 waiver remains appropriate.

14 I guess one of my concerns is a false
15 negative conclusion about lack of efficacy in the
16 context of an unselected monotherapy first-in-child
17 study; that potentially that type of negative
18 determination of lack of activity to be revisited
19 if there's new data available, that perhaps
20 molecular enrichment would have changed that or
21 that some interesting combination might have
22 changed that.

1 So I just wonder what your thoughts are
2 specifically about the idea of a false negative
3 conclusion too early.

4 DR. DIEDE: Thank you, Dr. DuBois, for your
5 question. I think that's an excellent question
6 because, to be honest, it was somewhat surprising
7 and disappointing, the results that we got with
8 checkpoint monotherapy in solid tumors, and we
9 still don't really understand why.

10 One of the reasons could be around the
11 mutational burden of children's cancers versus
12 adults. We've seen that as a proof of concept in
13 the very rare cases of MSI high cases where that's
14 from a constitutive mismatch repair deficiency.
15 Those children actually have very robust responses,
16 so in a way that's a type of biomarker that is, I
17 think, potentially pertinent in pediatrics.

18 I don't want to speak for Dr. Reaman or the
19 FDA, but one of the ways in which we have continued
20 to study that, is that when we approach the FDA for
21 approval of MSI high in adults, we actually
22 received a PMR to actually, in a way, re-evaluate

1 this question in children because we didn't select
2 for MSI high in our initial KEYNOTE 051 study. So
3 I think that's one mechanism after the IPSP has
4 occurred; that the FDA has an ability to readdress
5 that question.

6 Then the other -- and this is sort of just
7 off the cuff -- is that if there are multiple same-
8 in-class drugs coming down the pipeline, if you
9 will, I think there could be opportunity for maybe
10 not the first ones that went and reopening
11 IPSPs -- and it's amazing how many PD-1's and L1's
12 still are being developed today, literally -- but
13 when they approach the FDA about a waiver, if there
14 is some new information in the literature, I would
15 assume that new information should be taken into
16 consideration about a waiver for the PSP, and
17 perhaps that new same-in-class compound actually
18 might be the one that actually should maybe study a
19 biomarker, let's say, selected population, or if we
20 learn more about just the science and biology, that
21 would be just sort of trying to think of that. But
22 it's an excellent question.

1 DR. DuBOIS: Thanks so much. Nothing
2 further from me for now. Thanks.

3 **Open Public Hearing**

4 DR. PAPPO: I see that we have Dr. Kim and
5 Dr. Laetsch on the queue, however, we have our OPH
6 speaker ready to go. So we're going to move to the
7 OPH session of the meeting now, and then we're
8 going to come back in a few minutes for additional
9 clarifying questions, and I promise you that I will
10 call on Dr. Kim and Dr. Laetsch first before
11 anybody else.

12 We will now begin the open public hearing
13 session. Both the FDA and the public believe in a
14 transparent process for information gathering and
15 decision making. To ensure such transparency at
16 the open public hearing session of the advisory
17 committee meeting, the FDA believes that it is
18 important to understand the context of an
19 individual's presentation.

20 For this reason, the FDA encourages you, the
21 open public hearing speaker, at the beginning of
22 your written or oral statement to advise the

1 committee of any financial relationship that you
2 may have with a sponsor, its product, and if known,
3 its direct competitors. For example, this
4 financial information may include a sponsor's
5 payment of your travel, lodging, or other expenses
6 in connection with your participation in this
7 meeting.

8 Likewise, FDA encourages you, at the
9 beginning of your statement, to advise the
10 committee if you do not have any such financial
11 relationships. If you choose not to address this
12 issue of financial relationships at the beginning
13 of your statement, it will not preclude you from
14 speaking.

15 The FDA and this committee place great
16 importance on the open public hearing process. The
17 insights and comments provided can help the agency
18 and this committee in their consideration of the
19 issues before them.

20 That said, in many instances and for many
21 topics, there will be a variety of opinions. One
22 of our goals for today is for this open public

1 hearing to be conducted in a fair and open way,
2 where every participant is listened to carefully
3 and treated with dignity, courtesy, and respect.
4 Therefore, please speak only when recognized by the
5 chairperson, and thank you for your cooperation.

6 Speaker number 1, your audio is connected
7 now. Will speaker number 1 begin and introduce
8 yourself? Please state your name and any
9 organization you are representing for the record.

10 DR. ZUCKERMAN: Thank you. I'm Dr. Diana
11 Zuckerman. I'm president of the National Center
12 for Health Research. Our center is a non-profit,
13 public health think tank that scrutinizes the
14 safety and effectiveness of medical products, and
15 we don't accept funding from companies that make
16 those products. Our largest program focuses on
17 cancer treatments.

18 My expertise is based on post-doctoral
19 training in epidemiology and public health and as a
20 former faculty member and researcher at Yale and
21 Harvard. I've also previously served as a
22 professional staff in the U.S. House of

1 Representatives and U.S. Senate; at the Department
2 of Health and Human Services; and the White House.
3 I'm currently on the board of the non-profit
4 Alliance for a Stronger FDA, which educates
5 Congress about the need to financially support the
6 work of the FDA.

7 You are the experts on pediatric cancer, and
8 I am not, so I will focus my remarks on the
9 intended and possible unintended consequences of
10 the FDA policies you're discussing today. I'll
11 start by saying how impressed I am with the careful
12 analysis that was presented today, and I appreciate
13 that FDA is clearly emphasizing how best to improve
14 access to safe and effective treatment for
15 pediatric cancer patients.

16 However, there is always pressure on any
17 federal agency, including the FDA, to issue waivers
18 even when waivers are not appropriate. The law
19 states that the FDA should avoid, quote, "unduly
20 burdensome requirements," unquote, on sponsors, and
21 you've heard that wording today. Undue burden is
22 not well defined. Even more important, reducing

1 burdens on sponsors can decrease information
2 available to physicians, patients and parents, and
3 that increases burdens on physicians and their
4 patients when they lack the treatments or
5 information that they need to make the best medical
6 decisions.

7 There are two issues that I hope FDA and
8 this advisory committee will consider. Number one,
9 waivers for treatments that are very unlikely to be
10 safe and effective for children makes sense, but as
11 you've heard, that isn't always clear in early
12 studies. Waivers may be premature due to pressure
13 from sponsors to issue those waivers.

14 If the FDA issues the waiver, what happens
15 if it later becomes clear that the drug could be
16 used or is in fact being prescribed off-label for
17 children? You've asked about reopening the issue
18 of a waiver, and in addition to reopening that
19 issue, should there be a mechanism to require that
20 studies on pediatric patients could then be
21 required, and how would that mechanism work to
22 ensure those studies be done as quickly as

1 possible?

2 Also, should the label for the medication be
3 required to clearly state that pediatric use is not
4 appropriate until data supports such use? How
5 effective would that label be? We all know that
6 medical care varies greatly across the country, and
7 your experiences as leaders in your field are not
8 typical of all pediatric cancer care.

9 Number two. How often are waivers being
10 issued if one drug in the class is already
11 considered appropriate for pediatric use? That
12 would seem unfair to patients and their parents
13 because as you've heard, you can't always
14 extrapolate safety or efficacy from one drug in the
15 class to another.

16 Some drugs in the class would be safer or
17 more effective for children or for children of
18 specific ages or characteristic, and that
19 information would be lost if studies weren't
20 required on at least a small number of pediatric
21 patients. It should always be preferable to
22 conduct studies on small numbers of children who

1 receive treatment for free under well-monitored
2 clinical trials rather than wider pediatric use of
3 a cancer drug that was not studied on any children.

4 My final point, a level playing field is
5 very important for sponsors and for patients.
6 Sponsors that aggressively apply for waivers should
7 not be held to a lower standard than sponsors who
8 follow the spirit of the law and do the studies
9 needed to benefit patients. Thank you so much for
10 the opportunity to share my views today.

11 **Clarifying Questions (continued)**

12 DR. PAPPO: Thank you, Dr. Zuckerman.

13 The open public hearing portion of this
14 meeting has now concluded and we will no longer
15 take comments from the audience.

16 As promised, we're going to go back to some
17 clarifying questions. We have about 25 minutes, so
18 we will now take remaining clarifying questions for
19 all presenters, of course including Drs. Wang and
20 Diede who were our last presenters.

21 Please use the raise-hand icon to indicate
22 that you have a question and remember to put your

1 hand down after you have asked your question.
2 Please remember to state your name for the record
3 before you speak and direct your question to a
4 specific presenter, if you can. If you wish for a
5 specific slide to be displayed, please let us know
6 the slide number, if possible.

7 As a gentle reminder, it would be helpful to
8 acknowledge the end of your question with a thank
9 you and end your follow-up question with, "That is
10 all for my questions," so we can move on to the
11 next panel.

12 The next physician on the list for questions
13 is Dr. Kim. Please go ahead.

14 DR. KIM: Hi. This is AeRang Kim from
15 Children's National in DC. This question is for
16 Dr. Diede.

17 Thank you for your talk. I found it very
18 interesting, and I really pondered on your comment
19 and how do you define same in class in terms of PK
20 toxicity and efficacy. I also was very interested
21 in the one commonly MEK [indiscernible] classes,
22 checkpoint inhibitors that greater than 30,

1 different types of products were actually at one
2 point under evaluation, and it doesn't make sense
3 to study all these, obviously, in children at
4 particular -- I don't know if it doesn't, but how
5 can one study all of these in children?

6 I guess my question really is, in terms of
7 industry, because it is a competitive field, how do
8 you feel industry, in terms of different companies,
9 can work together in order to make selections or
10 the best opportunities to study these same-in-class
11 drugs for pediatrics? How can they work together
12 in order to identify the best treatments to move
13 forward?

14 DR. DIEDE: Hi. Thank you. This is a Scott
15 Diede from Merck. That's a really good question
16 that I don't have an answer to, to be honest, and
17 I'm still learning and thinking about the best way
18 to do this.

19 One of the ways I've actually had some of
20 the best conversations with my colleagues, in this
21 example that I gave as sort of a case study that
22 predated RACE -- obviously quite a number of years,

1 but, once again, I think highlights many pertinent
2 issues -- is in these ACCELERATE forums, I was able
3 to talk with my colleagues from Roche and BMS, as
4 well as the academic investigators involved in
5 those pediatric trials, to be able to try to, in
6 the way that we can, share information because,
7 obviously, there are intellectual property issues
8 and so forth.

9 I got the sense that while the adult space
10 is very competitive, the pediatric space, I think
11 there is a realization that we're really all trying
12 to work together to try to help children with
13 cancer, which is in a way somewhat different. It's
14 definitely different from a larger financial aspect
15 for industry, and we're doing this to really do the
16 right thing.

17 I think forums like that have been a good
18 venue to be able to have discussions, and I know
19 there have been discussions about trying to put
20 together some sort of pre-competitive space to be
21 able to have some further discussions, but I
22 haven't seen a lot of those, and to be honest, I

1 don't know exactly how they would work.

2 I would be open to trying to better
3 understand that, and I don't know, actually, if any
4 other folks on the committee, or Dr. Kraus maybe,
5 could even elaborate on that. But I think it is an
6 important question about how can we share pertinent
7 information, especially for peds drug development,
8 to be able to make these informed decisions. I,
9 unfortunately, don't have the best answer right
10 now.

11 DR. KIM: Thank you very much.

12 DR. PAPP0: You have any additional comments
13 or questions, Dr. Kim?

14 DR. KIM: I have no additional comments or
15 questions. Thank you.

16 DR. PAPP0: Okay. Dr. Laetsch?

17 DR. LAETSCH: Thank you, Dr. Pappo.

18 My question was almost the same as
19 Dr. Kim's, and was also for Dr. Diede. I don't
20 know if there's going to be an additional response,
21 but I would just highlight that I agree that the
22 ACCELERATE-like meetings, the multistakeholder

1 meetings, are very important to help align thinking
2 among industry, academic, cooperative groups, and
3 regulators.

4 I just would highlight the challenge is
5 still -- the example that you gave was after
6 250 patients had been enrolled to checkpoint
7 inhibitor trials, and it's a welcome input from
8 anyone, my question for Dr. Diede, about ways to do
9 that earlier in development and prioritize the
10 agents up front when there are multiple agents in
11 class being developed with similar timelines.

12 DR. DIEDE: Hi. This is Scott Diede from
13 Merck. The only thing I might add is I totally
14 agree with you that you can't have an ACCELERATE
15 forum for every, in a way, sort of question that
16 would be pertinent, and it does take time/effort,
17 and we were in a very specific situation there.
18 Once again, I think it worked out very well.

19 I know ACCELERATE has also tried to work
20 much earlier about not only so much same in class,
21 but what targets are relevant for particular
22 pediatric cancers, and then trying to put

1 statements out as a community about what targets
2 they think should be prioritized.

3 It's I think getting to earlier in
4 development and a little bit maybe out of scope for
5 the same-in-class question, but this is incredibly
6 pertinent; that I think similar multistakeholder
7 forums can be more driven before we have any of
8 this pediatric data about what targets actually
9 make sense to study. I think that can help
10 companies, and that can help regulators as well.
11 But I definitely would love to hear any other
12 participants' thoughts around this as well.

13 DR. KRAUS: This is Albert Kraus, Pfizer and
14 industry representative. Just around the last two
15 questions and what's being said, we do have forums
16 and common activity occurring through organizations
17 like pharma and bio. This is the reason I was
18 asking Dr. Reaman about, basically, data capture
19 around why we ramp all these trials and efforts up,
20 and where do we stand on continued accrual,
21 slowness of accrual, and the ability to complete
22 some of the trials that are more rigorous than

1 20 years ago when we're looking at this in many
2 cases.

3 Groups like COG, and Euro [indiscernible]
4 Ewing, and others that we've been working with on
5 various fronts, I think it's important. We're
6 going to probably want to capture that and see
7 where -- we talk about the numbers are a lot, but I
8 think when we started to gather metrics, we're
9 going to see how difficult it is to operate in what
10 manner that we want to operate. We may not be able
11 to do everything that we'd optimally like to do in
12 so many rare diseases with particularly small
13 numbers of patients and targeted molecularly driven
14 subsets of already very rare child tumor settings.

15 So I think it's a to be continued, but there
16 are mechanisms for data share. ACCELERATE's been
17 mentioned, a very good one in this case, but there
18 are other mechanisms, too, and other groups with
19 data share and common industry cooperation that
20 also should, of course, be beyond industry, with
21 FDA, with academia, and with children's cooperative
22 groups. So I think they're still involved, but

1 that's just my perspective.

2 DR. PAPPO: Thank you, Dr. Krauss.

3 Any other comments?

4 (No response.)

5 DR. PAPPO: Ted, does that answer your
6 question?

7 DR. LAETSCH: Yes. Thank you very much.

8 DR. PAPPO: Next, we have Dr. Ira Dunkel.

9 DR. DUNKEL: Thank you, Dr. Pappo.

10 This is a question I think both for
11 Dr. Diede and for maybe the FDA, EMA, staff
12 members.

13 To me it seems like there's been a
14 compelling argument that when you have a class of
15 agents that's ineffective in pediatrics for the
16 most part, like anti-PD-1 agents, that waivers of
17 newer agents should seriously be considered to be
18 given. But it's less clear to me what everyone
19 thinks about new agents being developed in a class
20 where the prior agents clearly work.

21 So if there's NTRK inhibitors, new BRAF
22 inhibitors, new MEK inhibitors, but we have

1 existing agents that have demonstrated efficacy in
2 pediatric oncology, would that also be a reason to
3 consider granting waivers, or does prior efficacy
4 in the class perhaps mandate more serious
5 consideration of requiring additional trials in
6 newer agents in the class?

7 DR. DIEDE: Hi. This is a Scott Diede again
8 from Merck. Maybe I'll just briefly comment, and
9 thank you for that question. My hope as a drug
10 developer is let's say there was an MTRK [ph]
11 inhibitor already out there, there was data, it was
12 approved, and then I as a drug developer am
13 developing a, quote-unquote, "new" MTRK, I hope it
14 would be new and different in an important way that
15 could actually help with efficacy.

16 For example, maybe if there were mutations
17 that arose while being treated with the first
18 generation, this new generation maybe might work to
19 some of those resistant mechanisms. This is an
20 easier low-hanging fruit scenario to say it would
21 probably make sense, then, to study that
22 potentially in children because there really is a

1 difference.

2 For some of the, I guess for lack of a
3 better term, me-too drugs, I guess I would really
4 be looking at the current approved drug to see are
5 there some ped-specific issues that really are
6 lacking. Once again, obviously, if there was a
7 formulation issue, and the new compound could help
8 with that, that might be worth studying. But if
9 there really isn't something obvious there, and it
10 is more of a me-too drug, if you will, then I have
11 to admit, I don't think studying it in children, in
12 addition, is that helpful for the field. That's
13 still a very hard question because it is, how do
14 you really get all that information? But I'll stop
15 there and let others from the FDA comment on that.

16 DR. REAMAN: This is Greg Reaman from the
17 FDA. I would echo, in large part, Dr. Diede's
18 response. I just want to, again, caution -- and
19 I've been involved in the ACCELERATE platform for
20 many years. I've been a member of the steering
21 committee of ACCELERATE for many years, and I want
22 to make it clear here that we're not discussing

1 prioritization of which same-in-class products to
2 carry forward in definitive development, in
3 pediatric cancer in general or in specific
4 pediatric cancers.

5 In large part, the example with the
6 PD-1/PD-L1 inhibitors, 250 patients enrolled on
7 studies of three products, three different
8 pharmaceutical sponsors, what we're hoping -- to
9 really come to some agreement and get some insight
10 from the committee -- here is, how could we have
11 avoided that early; not to wait for a strategy
12 forum, but to actually, as product applications
13 come in, associated, or preceded I should say,
14 actually by a pediatric study plan where there's a
15 requirement, unless there's a reason to waive, what
16 characteristics and what parameters can we use to
17 actually say that the studies may not be warranted
18 if multiple same-in-class products have already
19 been studied or are in the process of being
20 studied? And what would warrant the investigation
21 of yet another same-in-class product?

22 This situation that we're trying to adjust

1 to is a little bit different than I think some of
2 the examples and discussions that we've had. This
3 really goes back also, I think, to Dr. Dunkel's
4 question.

5 Again, because there are known products that
6 are active, and there may be targeted products that
7 are actually approved for specific pediatric
8 indication, and if there is a, quote-unquote,
9 "same-in-class" product or next-generation product
10 that is directed at the same target that has
11 evidence of greater efficacy or approved efficacy
12 in a specific population, even an adult population,
13 and if it has a better toxicity profile, then is
14 that a consideration, or should that be a
15 consideration that would warrant an investigation
16 of yet another same-in-class product?

17 It's the parameters that we would like to
18 use to be consistent and to be fair and equitable
19 that we really need to, I think, be addressing
20 here.

21 DR. SABER: May I chime in?

22 DR. PAPPO: Of course.

1 DR. SABER: This is Haleh Saber, FDA. I
2 think it's crucial to define the class of the
3 same-in-class product. We have defined classes of
4 products for different reasons, but for the topic
5 of interest for today's discussion and pediatric
6 studies, it's what do we really mean by the same
7 class, and it seems like we are discussing the same
8 target.

9 I think it's easier to discuss that in terms
10 of antibodies because they are highly specific for
11 the target. When it comes to small molecules,
12 there are often multiple targets that are being
13 affected and inhibited. Even if the primary target
14 in drugs 1, 2, and 3 are the same, and there is the
15 same exact binding and affinity, the other targets
16 might also contribute to anti-tumor activities, as
17 well as safety and toxicity.

18 For the question that was raised in terms of
19 if the drug is negative in a pediatric population,
20 does it really mean drug 2, the so-called in the
21 same class, is also negative; it will depend on the
22 secondary pharmacology and what we know about the

1 drug. So again, how we define the same-in-class is
2 important, and it's sometimes easier to define it
3 for antibodies, and it's more difficult to define
4 it for small-molecule drugs.

5 DR. PAPP0: We're going to move to the next
6 question because we still have three or four
7 members that would like to participate in the
8 question-answer session.

9 Dr. Kraus, you're next.

10 DR. KRAUS: If you're speaking to me, my
11 hand's down. I had chimed in previously if you're
12 saying Kraus.

13 DR. PAPP0: Okay. Thank you so much.

14 David Mitchell, you're next.

15 MR. MITCHELL: Thank you, doctor, and I want
16 to thank both Drs. Wang and Diede for their
17 presentations. They were really helpful.

18 I have a question, actually, for the FDA. I
19 am not clear on the process whereby the FDA decides
20 to grant a waiver. I don't understand internally
21 what you go through and who participates in the
22 decision-making process to grant a waiver. Can you

1 tell me how that works at the FDA?

2 DR. REAMAN: This is Greg Reaman. I could
3 tell you. And we're actually not talking about
4 granting waivers because granting waivers is done
5 at the time that an application is submitted for
6 review and approval, and generally for an adult
7 cancer indication.

8 What we're talking about here are actually
9 early pediatric study plans that need to be
10 submitted to the FDA before a company submits an
11 application for which a decision, with respect to
12 agreement to the plan, needs to be made before an
13 application submitted; and it's the company's plan
14 to request a waiver.

15 So the considerations for waiver are, to
16 some extent, included in our guidance, and the
17 discussion of these planned waiver requests, or
18 planned deferral requests, are made at an interim
19 review committee, the pediatric subcommittee or the
20 pediatric review committee, which over the course
21 of a year reviews about 180 initial pediatric study
22 plans for cancer products.

1 We basically review the study plans that
2 have been submitted, and as part of those study
3 plans, there's a discussion of the adult indication
4 for which a drug is being developed. There's
5 information provided on the characteristics of the
6 drug. There's a discussion generally about the
7 prevalence of the indication, the adult indication,
8 like breast cancer, prostate cancer, that may occur
9 in the pediatric population.

10 Now there is required to be a discussion of
11 the relevance, or potential relevance, of the
12 mechanism of action of the drug at a molecular
13 level; what molecular targets; what pathways; and
14 what cell-surface related antigen drug may be
15 directed at, that maybe is potentially equitable
16 for therapeutic use in a pediatric cancer
17 population.

18 We review and evaluate what the sponsor's
19 plans are with respect to an initial preliminary
20 pediatric study or investigation that is spelled
21 out in the legislation, a molecularly targeted
22 pediatric cancer investigation; or their planned

1 requests to waive based on a number of parameters,
2 or generally a plan to request a deferral, and
3 primarily a deferral of the submission of the
4 results of the study because they're generally
5 close to submitting their application, and we don't
6 want to delay the approval of a potentially -- or
7 perhaps potentially, but an effective drug in the
8 adult population who may require it. We agree to
9 those deferrals for submission of results after the
10 application is submitted.

11 That's the internal process. This internal
12 review committee consists of pediatric oncologists;
13 pediatricians; clinical pharmacologists; molecular
14 biologists; experts in chemical manufacturing
15 compliance, and basically mimics the large
16 pediatric review committee that provides the same
17 sort of oversight for pediatric study plans and
18 proposed pediatric study requests for the entire
19 FDA.

20 MR. MITCHELL: So if I'm understanding this
21 right -- and I'm a layman -- it's all a part of the
22 review of the new drug application.

1 DR. REAMAN: It actually precedes the new
2 drug application. So it's a component, and there
3 has to be agreement with the agency for a pediatric
4 plan prior to actually submitting the application.
5 But there has been discussion, generally, between a
6 sponsor, or an applicant, and the review division
7 prior to their submission of an application, and
8 there are frequently discussions about the
9 potential applicability as a product to the
10 pediatric cancer population before that time as
11 well; and as Dr. Diede I think mentioned, the early
12 advice meetings or Type F meetings that we can have
13 with sponsors. This process actually precedes the
14 submission of an application and the formal review
15 of an application for an adult indication.

16 MR. MITCHELL: Got it. Okay, one more
17 question.

18 It's often been said by the scientists and
19 doctors on this call that when we say it's the same
20 class of drugs, that frequently we don't know
21 exactly what we mean by that. How do you at the
22 FDA come to a decision and say, yes, this is

1 essentially the same class of drugs, the same
2 mechanism of action, and what have you?

3 Many people have said we're not clear, when
4 we say that, what we mean. I'm asking, what do you
5 mean? How do you arrive at that decision if it's
6 not clear?

7 DR. REAMAN: I think my response to that
8 would be multifaceted, to some extent, and
9 Dr. Saber did make some comment about monoclonal
10 antibodies. But I think it can be extended to
11 small molecules and some situations as well. But
12 generally, when we're talking about a class, or
13 drugs with the same mechanism of action -- and they
14 may not even have the same molecular mechanism of
15 action because an antibody against the target may
16 work differently than a small molecule with a
17 particular target.

18 So we're talking primarily about targets and
19 inhibition of those targets, and in some situations
20 they are same-in-class drugs. They're antibody
21 drug conjugates and monoclonal antibodies to the
22 same antigen that frequently use the same linker,

1 and sometimes not associated with different
2 cytotoxic payloads.

3 So we really are focusing on what makes a
4 drug same in class as much as we are what makes a
5 drug similar enough to another drug where we would
6 have reservations or have concerns about
7 duplicative studies, or requiring studies that we
8 know might not be able to be completed, and we are,
9 in essence, potentially wasting precious patient
10 resources. I guess that's how I can best answer
11 same in class.

12 DR. SABER: Yes. And to add to
13 Dr. Reaman --

14 (Crosstalk.)

15 DR. PAPPO: Sorry. We're going to have to
16 go to the next -- we only have time for one more
17 question, and then we have six different topics to
18 discuss. Sorry.

19 I'm going to allow Dr. Ro Bagatell to ask
20 her question, and then we're going to move to the
21 discussion points of the meeting.

22 DR. BAGATELL: Thank you so much, Dr. Pappo.

1 Most of the discussion has quite
2 appropriately been about the process for thinking
3 about same-in-class designation for agents that are
4 developed for adult oncology purposes with kind of
5 a P.S. about pediatrics. As I've been listening to
6 this, I've been thinking about the few situations
7 in which drugs are developed specifically for
8 pediatric cancer targets, for pediatric
9 indications. They are very similar to each other
10 and maybe have different formulation, maybe
11 different routes of administration or duration of
12 administration, but it's the same patients, and we
13 run into the same problem of not enough patients,
14 which I guess is a good thing, but still we want to
15 have the best drugs for kids.

16 So my question is, what are the implications
17 of this discussion for those kinds of agents,
18 specifically for the pediatric population?

19 DR. REAMAN: This is Greg Reaman. Can you
20 provide an example?

21 DR. BAGATELL: I guess the one that comes to
22 mind most immediately is something like an anti-GD2

1 antibody. I'm totally biased by my neuroblastoma
2 background, but I'm sure there are others.

3 DR. REAMAN: Sure. I think our
4 considerations apply to products that are developed
5 for adult cancers, as well as pediatric cancers.
6 As you, hopefully, well know, there are many more
7 of the former than the latter. I think any
8 requirement that we would have for investigation of
9 a drug that may be directed at the same target
10 would, again, have to include the same principles:
11 the potential differences in activity; differences
12 in toxicity profile; route and frequency of
13 administration; its activity as a single agent
14 versus its activity in a combination setting; and
15 when and where the drug is going to be utilized in
16 the early part of therapy, the induction phase of
17 therapy versus a post-consolidation phase of
18 therapy.

19 So they would all be, I think,
20 considerations for evaluating, at least making
21 reference to the example that you provided.

22 DR. BAGATELL: Thank you very much. That's

1 helpful.

2 **Questions to the Subcommittee and Discussion**

3 DR. PAPPO: Okay.

4 Thank you very much for a very lively
5 discussion. The committee will now turn its
6 attention to address the task at hand, the careful
7 consideration of the data before the committee, as
8 well as the public comments.

9 We will proceed with the questions to the
10 committee and panel discussions. I would like to
11 remind public observers that while this meeting is
12 open for public observation, public attendees may
13 not participate except at the specific request of
14 the panel.

15 We're going to start with question number 1.
16 Would the FDA read the first question?

17 DR. REAMAN: Yes. Sorry. I'm double-muted
18 here. I apologize.

19 Consider the degree of unmet clinical need
20 in a specific disease context that should influence
21 decisions related to planned waiver requests for
22 pediatric studies of multiple same-in-class novel

1 agents, given the proviso that same in class may
2 not be the best way to actually describe these
3 products.

4 DR. PAPPO: If there are no questions or
5 comments concerning the wording of the question, we
6 will now open the question for discussion, and
7 Joyce is going to help me here prioritize your
8 questions.

9 Let me see. Okay. David Mitchell, do you
10 have a question? No, I meant on -- put your hand
11 down. Put it down right now.

12 MR. MITCHELL: Sorry.

13 DR. PAPPO: Okay. We have Dr. Gorlick.

14 DR. GORLICK: It's Richard Gorlick. Sorry.
15 I put my hand down as well. I have a comment, but
16 not a question related to the question.

17 DR. PAPPO: Okay.

18 Does anybody have any consideration as to
19 the unmet clinical needs for a specific
20 disease [inaudible - audio gap] and how decisions
21 could relate to planned waiver requests for
22 pediatric studies?

1 Does anybody feel that [inaudible - audio
2 gap] data might be important to decide which agent
3 to move forward and whether there should be waivers
4 issued for that, just as the availability of the
5 drug, PK, CNS penetration, and things like this?

6 I'm just trying a little bit of discussion
7 on this first question, so we don't go blank
8 without any recommendations for the FDA.

9 Julia?

10 DR. GLADE BENDER: Hi. Thank you, Alberto.
11 Julia Glade Bender from Memorial Sloan Kettering.
12 The way I am interpreting this question is should
13 the unmet clinical need of the potential diseases
14 for which the agents are being developed weigh into
15 whether something might be eligible for a waiver
16 request, et cetera.

17 I think my problem with the idea of unmet
18 clinical need is we have a lot of unmet clinical
19 needs in pediatric oncology, and hopefully that is
20 what drug development is all about. But there are
21 some very, very rare pediatric cancers like
22 rhabdoid tumor, or pleuropulmonary blastoma, and

1 some very, very rare entities, where even though
2 there is huge unmet clinical need, there are
3 certainly not enough patients to test many drugs.
4 So it's this balancing of unmet clinical need with
5 pragmatism about what can actually be done
6 regarding these decisions and how similar, then, is
7 the next in-class agent to the agent that may or
8 may not already be in clinical trials.

9 So I understand that it's the sponsor who
10 brings the proposal, but they may or may not truly
11 appreciate the context of the rarity of the
12 patients and whether or not the proposal is
13 actually feasible, if that makes sense.

14 DR. PAPPO: So you think that in the
15 development of a specific waiver and also in
16 consideration of multiple in-class agents, the
17 sponsor and the FDA should take into consideration
18 the specific disease and the need for the specific
19 disease, especially in very rare diseases such as
20 rhabdoid or PPB? Did I get that right?

21 DR. GLADE BENDER: That's right. There's a
22 large degree of unmet clinical need, perhaps, but

1 there's also a very limited number of patients. So
2 it's that context of rarity that needs to also be
3 balanced in with the unmet clinical need.

4 DR. PAPPO: Okay.

5 I believe Dr. Gorlick wants to comment also.

6 DR. GORLICK: Yes. It's Richard Gorlick
7 from MD Anderson. I did want to comment. Sorry
8 about the earlier confusion.

9 I actually am going to make the case that it
10 isn't the degree of unmet clinical need that should
11 be the predominant definer of a waiver request. In
12 clarifying that statement, I think there are poor
13 prognosis malignancies where clearly there's a
14 clinical need. So whether that's metastatic solid
15 tumors, CNS tumors, or other diseases with poor
16 prognosis within the pediatric space, those are
17 certainly areas where additional drug development
18 occurs.

19 The implication is there's unmet clinical
20 need in a domain where the prognosis may be better.
21 So in those categories, we're talking about
22 diseases with cure rates in excess of 90 percent.

1 And I will argue in the diseases where we have a
2 favorable cure rate, much of that is accomplished
3 with cytotoxic chemotherapy that is traditional.
4 Many of the novel agents that exist today have much
5 more favorable toxicity profiles. I think there
6 are certainly selected cases where an agent has a
7 lot of promising activity and favorable prognosis
8 disease where it may be associated with less
9 toxicity. I think granting waivers in that case
10 would also not be advisable.

11 So responding specifically to this question,
12 unmet clinical need could be a factor, but I don't
13 think it should decide exclusively whether a waiver
14 is granted. It's other factors that define that.
15 Thank you.

16 DR. PAPP0: Steve, do you have a comment?

17 DR. DuBOIS: Yes, thank you. Steve DuBois
18 from Dana-Farber. I'm reflecting on the very
19 interesting discussion earlier about how many
20 patients are needed to be treated to feel
21 comfortable that we've potentially reached the
22 right conclusion. It was 250 patients treated on

1 the checkpoint inhibitor trials. Was that too much
2 or is that too few?

3 I think it sort of depends, in my view, a
4 little bit on the level of efficacy in initial
5 adult testing. In the case of the immune
6 checkpoint inhibitors, it seems like we needed to
7 be really sure of the level of activity in
8 pediatrics before making a conclusion, given the
9 really transformational activity seen in adults.
10 So I would think that some of this thinking about
11 waivers and concluding that an agent is not active
12 in pediatrics probably depends on whether we're
13 talking about a really transformational modality
14 versus something that maybe extends PFS by 2 months
15 in adult malignancies.

16 DR. PAPPO: I think that's something that
17 can also be based very strongly on preclinical data
18 if somebody's studying a very, very rare cancer,
19 like Julia Glade Bender was saying, rhabdoid or
20 PPB, and you find a specific target that is being
21 developed in adults for another specific disease.
22 I think that that should be taken into

1 consideration whether a waiver should be requested
2 or not, unless anybody else disagrees.

3 Do I have any other comments or any
4 suggestions?

5 Greg, do you want to comment at all?

6 DR. REAMAN: No. It was just a question as
7 to what role, if any, unmet clinical
8 need -- however one defines a clinical need, poor
9 prognosis or current standard of care is very
10 toxic -- how that, or should that, actually
11 influence any decisions about evaluating more than
12 one drug with the same mechanism of action in an
13 early investigation, and I think there's been some
14 discussion about that.

15 DR. PAPPO: Will, do you have a comment?

16 DR. PARSONS: I do. This is Will Parsons
17 from Texas Children's Hospital. Most of my comment
18 has been [inaudible - interference], but my first
19 comment was that we really need to be thinking
20 about clinical need broadly, which I think several
21 folks have emphasized, so not just talking about
22 poor prognosis tumors, but tumors where the

1 therapies are toxic, and a number of the targeted
2 therapies were unclear on the long-term toxicities
3 because we just don't have data.

4 So we need to think about it pretty broadly,
5 and once we do that, I think clinical need is
6 unlikely to be a high-level determining factor for
7 many of the agents being considered, given the
8 large number of areas that we have clinical need in
9 pediatrics, specifically for some of these rare
10 tumors. So feasibility and some of the other
11 concerns I think are likely going to override this.

12 Presumably in cases where there's a less
13 compelling clinical need, there's also less
14 compelling motivation for everyone involved in this
15 partnership to cure pediatric cancer patients and
16 developing new therapies for those patients.
17 That's all I have to say.

18 DR. PAPPO: Steve, do you have another
19 comment?

20 DR. DuBOIS: No, I've lowered my hand.
21 Thank you.

22 DR. PAPPO: Basically, just from the

1 discussions that we've had, I didn't feel that the
2 panel felt very strongly that the degree of unmet
3 clinical need should significantly influence
4 decisions related to planned waiver requests for
5 pediatric studies.

6 Is that a fair statement?

7 (No response.)

8 DR. PAPPO: Anybody?

9 DR. PARSONS: This is Will Parsons. I
10 believe so.

11 DR. GLADE BENDER: Yes, I agree.

12 DR. PAPPO: Based on what I've heard from
13 Julia and from others, and Richard, I believe that
14 pretty much encapsulates what we discussed for
15 question number 1; is that correct?

16 FEMALE VOICE: I think that accurately --

17 DR. PAPPO: Okay.

18 GLADE/BENDER FEMALE VOICE: I think unmet
19 clinical need in its broadest sense.

20 DR. PAPPO: Okay.

21 We will now move to question number 2, and
22 the FDA is going to read the question for us.

1 DR. REAMAN: Question number 2, consider the
2 importance of any comparative efficacy results of
3 same-in-class agents in one or more adult cancers,
4 as well as any available comparative toxicity
5 data -- the type, the magnitude, and the
6 frequency -- that could contribute to a decision
7 where evaluation of more than one same-in-class
8 product in children might be warranted.

9 DR. PAPPO: If there are no questions or
10 comments concerning the wording of the question, we
11 will now open the question for discussion. I'm
12 going to wait for Joyce to help me with this.

13 I personally think that it's a very
14 important point to consider when you are developing
15 or trying to study same-in-class products based on
16 the adult data. For example, a perfect example
17 would be, for example, ALK inhibitors and what was
18 learned from crizotinib, and then more recently
19 lorlatinib; and comparative studies, for example,
20 with NTRK inhibitors and the incidence of a variety
21 of complications that may be particularly pertinent
22 to pediatrics, for example, multiple bone fractures

1 with entrectinib.

2 I have Andy Kolb here. Any comments?

3 DR. KOLB: Yes. Thank you, Alberto. I
4 think the ALK inhibitors are a great example of the
5 importance of comparative efficacy/comparative
6 toxicity. I think that with the RACE Act, though,
7 we are seeing agents earlier and earlier in phase 1
8 development in adults. At least we're having
9 conversations with companies long before the end of
10 phase 1, and comparative efficacy data are either
11 insufficient or non-existent, and we're often
12 making decisions about pediatric development before
13 we have that data.

14 When available, I would consider it to be of
15 utmost importance, but I have a feeling it's going
16 to be less and less available at the time we're
17 considering pediatric trials, and really making
18 sure that we have dosing and drug interaction data
19 in adults I think will be a primary driving factor
20 in which drugs we're most enthusiastic about in
21 children. Thank you.

22 DR. PAPPO: Thank you, Andy.

1 Richard, do you have a comment?

2 DR. GORLICK: Thank you, Dr. Pappo.

3 I think the comparative efficacy results in
4 adults is immensely important in the context of
5 pediatrics. If we think about efficacy data, we
6 know we have a reasonable biological understanding
7 of when pediatric malignancies are similar or
8 different to their adult counterparts. When
9 they're similar, relative efficacy is likely to be
10 similar.

11 I think toxicity also is very, very
12 informative, but I think at the same time, there
13 you have to take note of the specific differences
14 between adults and kids. As an example, kids
15 tolerate myelosuppression, or at least the peds
16 oncologists tolerate myelosuppression to a greater
17 extent. But the results from the adults are likely
18 to be similar as the kids, and as long as that's
19 filtered appropriately to a pediatric context, it's
20 very, very relevant. Thank you.

21 DR. PAPP0: Thank you very much.

22 Dr. Glade Bender?

1 DR. GLADE BENDER: Julia Glade Bender,
2 Memorial Sloan Kettering.

3 I wanted to echo what Andy Kolb had said and
4 what Dr. Gorlick had said. When available,
5 comparative efficacy in human adults and
6 comparative toxicity in human adults is very
7 important. But sometimes at the point that these
8 decisions are being made, that doesn't exist, and
9 it's being extrapolated from either preclinical
10 testing or from basically the, for lack of a better
11 word, pitch from pharma about trying to explain why
12 their drug isn't a me-too drug but rather an
13 improvement on an old drug.

14 So I feel like early on in drug development,
15 before a new agent has its first adult indication
16 and there is not necessarily the preponderance of
17 data to support it, to me, that part should not
18 influence. It should be actual real human data
19 that carries significant weight as opposed to
20 theoretical comparative efficacy and toxicity data,
21 based on preclinical testing or molecular
22 chemistry, if you will.

1 DR. PAPPO: Thank you very much.

2 Dr. Kim?

3 DR. KIM: I just want to echo, and I agree
4 with all the prior discussants. I do think that
5 when we think about the later generation products
6 and these same-in-class products, many of these
7 considerations for waivers would be the importance
8 of the comparative efficacy of adults in both
9 efficacy and toxicity, and would be a clear
10 importance when we're thinking about whether or not
11 to evaluate in the pediatric population.

12 DR. PAPPO: Thank you very much.

13 Donna?

14 MS. LUDWINSKI: Thank you, Dr. Pappo. This
15 is Donna Ludwinski from Solving Kids' Cancer.

16 Just following on your comments, Dr. Pappo,
17 about the crizotinib and lorlatinib example, I saw
18 what was an additional interesting thing about what
19 happened in the adult data before lorlatinib was
20 tested in children, was the fact that not only was
21 efficacy quite different -- the results in the
22 adult lung cancer patients -- but the toxicity

1 profiles radically different between the two drugs,
2 and the property of penetrating the blood-brain
3 barrier, in that some of the lung cancer patients
4 had brain metastases that resolved; and lo and
5 behold, brain metastases can happen in
6 neuroblastoma, albeit rarely.

7 At least from a patient or an advocate
8 perspective, I think those are extremely compelling
9 issues that would weigh in on the decision, even
10 those interesting property differences. Thank you.

11 DR. PAPPO: Thank you very much.

12 Will?

13 DR. PARSONS: This is Will Parsons from
14 Texas Children's. My comments are very similar to
15 the rest, but I guess the one thing that really is
16 striking to me about this discussion is, in the
17 context of quite rare subsets of pediatric
18 cancers -- which is what I've spent much of my time
19 thinking about the last few years through the
20 NCI-COG Pediatric MATCH trial and others -- there
21 are really two different decision points. One is
22 likely to be, as Dr. Kolb first pointed out, making

1 a decision about a very small number of trials in
2 an early situation where you have very limited data
3 on comparative efficacy of the agents in adults or
4 the rest of the things we're discussing.

5 So some rational decision needs to be made
6 based on those preliminary data, but then perhaps
7 the equally critical point is how to then
8 incorporate and systematically review and take into
9 consideration the ongoing generation of data over
10 the next handful of years; for example, as the
11 adult trials are being completed, the very first
12 pediatric trial or two is being completed, and how
13 to make the decisions from that point.

14 So I think in some ways it's the same goal,
15 but in some ways they're different tasks and a more
16 limited information situation, and then one
17 hopefully down the road where there's ability to
18 make a decision, incorporating much more extensive,
19 hopefully, adult data, as well as at least some
20 modicum of pediatric toxicity and efficacy data.

21 DR. PAPPO: If I can encapsulate some of
22 what the panel believes, we believe that definitely

1 comparative efficacy results in adults are very
2 important in deciding when to move to different
3 compounds that are in the same-class products in
4 children with cancer. Sometimes there is a lack of
5 data in the adult world, but it would be nice to
6 have that to complement our decision.

7 We also need to take into consideration
8 preclinical studies. Also, when we evaluate the
9 toxicity of some compounds in adults, we need to
10 take into consideration the pediatric population.
11 Some of the pediatric population might involve the
12 more vulnerable to some of the toxicities that are
13 seen in adults, and some of the toxicities that are
14 seen in adults might not be as relevant in
15 pediatrics; for example, the incidence of
16 neutropenia.

17 The other, I believe that's pretty much what
18 we talked about unless anybody wants to add
19 anything. I think that pretty much encapsulates
20 everything on question number 2.

21 Anybody else want --

22 DR. KRAUS: Dr. Pappo, this is Albert Kraus,

1 Pfizer, industry representative, and one comment.
2 I did put my hand up, and really I almost said it
3 very early around the question.

4 I agree with everything people were saying,
5 your summary, and all that. One thing I wanted to
6 bring up, though, being somebody who grew up on the
7 research side of things and then participated much
8 more in the development side of things, is we have
9 a lot failure. Even though comparative efficacy in
10 adults is interesting and important, I looked
11 through the questions, and the precedent or lack of
12 precedent of activity in pediatrics, particularly
13 given its often changed tumor settings, may be a
14 very important element as well in this.

15 You can have a lot of comparative efficacy
16 in adults, but if your first three agents out the
17 gate of same class all failed in a bunch of deep
18 [indiscernible] settings, perhaps that's a big
19 factor in this discussion. I didn't see it in
20 other questions. I wanted to bring that out
21 because I think it might be an important kind of
22 extra element in this question.

1 DR. PAPPO: Thank you, Dr. Kraus, and I
2 apologize for not seeing that you raised your hand.

3 DR. KRAUS: No problem.

4 DR. PAPPO: If there's no further discussion
5 of this question, we will now begin with the next
6 question, and we will ask the FDA to request
7 number 3.

8 DR. REAMAN: Thanks, Alberto.

9 Consider whether differences in specific
10 product quality indicators, dosage forms, route of
11 administration, impact clinical benefit
12 considerations and might influence a decision to
13 investigate multiple same-in-class products.

14 DR. PAPPO: While I wait for Joyce to start
15 the comments, I would say that it would be very
16 important, in my opinion, to consider these
17 differences, for example, CNS penetration; oral
18 versus IV, cost; the patient that has to be
19 admitted to the hospital or can be based on
20 outpatient; CNS penetration, but let's see what our
21 panel thinks, and Joyce will let me know. She will
22 start typing.

1 I see a lot of hands there, and I start with
2 Will. That is the first hand I saw go up.

3 DR. PARSONS: It's Will Parsons from Texas
4 Children's.

5 Alberto, I agree with you. That's
6 critically important, especially for these
7 patients. All of those factors play such a huge
8 role both in terms of our ability to effectively
9 use those agents over the long term, our ability to
10 ethically and kindly conduct clinical trials in the
11 shorter term, and then identify based on an
12 example. For example, in the CNS penetration,
13 particular drugs for particular populations, I
14 think this is absolutely critical.

15 DR. PAPPO: Andy, I see your hand up.

16 DR. KOLB: Yes. Thank you, Alberto. I
17 don't think I was the first, but I would just like
18 to add my endorsement. I would add to this list
19 interactions with common concomitant meds in
20 children, CYP3A4 for example, and how that may
21 impact dosing and ability for chronic
22 administration of these drugs. Thank you very

1 much.

2 DR. PAPPO: Thank you very much.

3 Joyce Yu?

4 DR. YU: Hi, Dr. Pappo. This is Joyce. Did
5 you have a question?

6 DR. PAPPO: Okay.

7 DR. YU: Dr. Pappo, can you hear me?

8 DR. PAPPO: Okay. I saw you. Sorry.

9 Ro Bagatell is next. Sorry.

10 DR. BAGATELL: Yes. I agree with what
11 everyone has said, but also on one of the slides I
12 noticed one of the speakers had put in the number
13 of times per day that you dose medicine, so that's
14 kind of dosage form, but it's more dose schedule.
15 Trying to get a kid to take a nasty tasting drug
16 once a day versus 4 times a day is actually
17 significant.

18 DR. PAPPO: Okay.

19 We have Julia Glade Bender next.

20 DR. GLADE BENDER: Yes. Julia Glade Bender,
21 Memorial Sloan Kettering. I was going to make the
22 same point as Ro, that schedule is an important

1 quality here that is missing, not only taking a
2 drug fewer times per day, but even if it's dosed
3 fewer times per week orally. Obviously,
4 palatability is in here as well, but even IV, if
5 the schedule -- for example, with the PD-1
6 inhibitors, the difference between an IV once every
7 2 weeks, once every 3 weeks, once every 6 weeks,
8 these are major quality-of-life improvements for
9 our patients.

10 Then I agree with the potential for the
11 agent to be used in combination with agents that
12 are classically used in pediatrics because,
13 ultimately, what we really want to do is cure more
14 patients up front, and upfront therapies are quite
15 complicated, so the ability to use them in
16 combination would be very important.

17 DR. PAPPO: Dr. Laetsch?

18 DR. LAETSCH: Thank you. It's Ted Laetsch,
19 Children's Hospital of Philadelphia. I agree
20 completely with what everyone else has said, that
21 these are very important considerations. I would
22 just say that I have, I think, the same concern

1 that Dr. Dunkel expressed previously about some
2 potential inadvertent consequences if we overly
3 wait the current availability of an oral liquid
4 formulation for young children, in terms of
5 discouraging sponsors from early development of
6 that if they want to avoid the requirements of this
7 act.

8 I agree with Dr. Reaman that I certainly
9 don't view child drug development as a punishment,
10 but I do think there may be some sponsors who would
11 prefer to have a waiver, and we certainly don't
12 want to provide a disincentive to development of a
13 liquid formulation.

14 DR. PAPP0: Thank you very much, Ted.

15 Steve, do you have a comment?

16 DR. DuBOIS: Yes. Steve DuBois,
17 Dana-Farber. I agree with what's been said, and
18 just to highlight the dosage form, I think it's
19 really a key factor. If another in-class compound
20 came along that, for example, replaced
21 isotretinoin, I think our families would be
22 grateful not to have to try to figure out all sorts

1 of ways to administer isotretinoin to toddlers.
2 Indeed, there's data from the UK that the PK with
3 the way we're currently administering isotretinoin
4 is not optimal, so I think there's a lot to be
5 thought about in terms of dosage form.

6 DR. PAPPO: I don't see any other hands up,
7 so I'm going to try to encapsulate the discussion
8 of the panel for question number 3. I believe that
9 everybody believes that the product quality
10 indicators should influence a decision to
11 investigate multiple same-in-class products.

12 The important things should be the schedule
13 of the drug; the pharmacokinetics; the
14 palatability; the impact of this specific drug on
15 the quality of life that brings in a schedule;
16 outpatient; frequency of administration; and the
17 potential consequences of not having an oral
18 formulation for some of these patients, and the
19 panel believes very strongly that that should be
20 considered in the future.

21 I believe that's about it unless I missed
22 something.

1 Did I miss anything else or does anybody
2 want to add anything to what I just said?

3 DR. GLADE BENDER: Potential to use in
4 combination.

5 DR. PAPP0: Ah, that's right. Okay, the
6 potential of this drug that could be used in
7 combination with other agents.

8 Thank you very much, Julia.

9 Okay. If there is no further discussion on
10 this question, we will now begin the next question,
11 which is question number 4, and we will ask the FDA
12 to read the question.

13 DR. REAMAN: Thanks, Alberto.

14 Consider the importance of nonclinical
15 efficacy data on whether pediatric investigations
16 of more than one same-in-class products are
17 warranted in children, and if/when preclinical
18 studies in pediatric-specific models might be
19 required.

20 DR. PAPP0: If there are no questions or
21 comments concerning the wording of the question, we
22 will now open the question for discussion. I'm

1 going to let the panel lead this one, and I'm going
2 to wait for Joyce to tell me who is raising their
3 hand. I don't see any hands raised yet, but I'm
4 sure that it'll become relatively soon.

5 Please? I see one.

6 Ira?

7 DR. DUNKEL: Thank you, Alberto.

8 Ira Dunkel, Memorial Sloan Kettering. I
9 think that the clinical data certainly would be
10 much more important than the preclinical data, but
11 if there were relevant pediatric clinical models
12 demonstrating significantly different efficacy
13 and/or toxicity, I think that should be taken into
14 consideration.

15 DR. PAPPO: Thank you.

16 We have Julia Glade Bender.

17 DR. GLADE BENDER: Thank you very much. I
18 agree with Ira that clinical data should trump
19 preclinical data, but in that space that we
20 discussed of really very rare tumors, where the
21 opportunity to study multiple agents will be very
22 difficult.

1 I think the nonclinical data is very
2 helpful. For example, there are very few pediatric
3 patients with ALK mutations, so I think preclinical
4 data on neuroblastoma ALK and certain ALK
5 mutations, neuroblastomas, preclinical data I think
6 contributed significantly to moving forward some of
7 the newer agents in class. So I think this helps
8 us with the rare disease issue.

9 DR. PAPPO: Thank you very much, Julia.

10 Anybody else that would like to express
11 their opinion? Joyce is typing, so somebody else
12 must be interested in saying something. Hold it
13 just for a second.

14 DR. YU: I don't see any other hands raised.

15 DR. PAPPO: Okay. This was a relatively
16 straightforward question. We believe that clinical
17 data is significantly more important than others to
18 evaluate same-in-class products. However, for very
19 rare diseases, clinical data should be taken into
20 consideration.

21 Does that encapsulate your views, Ira and
22 Julia?

1 (Inaudible response.)

2 DR. PAPP0: That's probably yes.

3 If there is no further discussion of this
4 question, we will now move to the next question,
5 which is question number 5, and FDA will read this
6 question.

7 DR. REAMAN: Thank you, Alberto.

8 Consider the specific pharmacological
9 parameters that should be considered and the
10 importance of central nervous system penetration
11 when primary CNS tumors may be key target tumors of
12 interest when evaluating the need for pediatric
13 investigation of more than one same-in-class agent.

14 DR. PAPP0: I have to say, if there are no
15 questions or comments concerning the wording of
16 this question, we will now open the question for
17 discussion.

18 I believe that it's very, very important to
19 take into consideration CNS penetration, not only
20 for primary CNS tumors, but as Donna was saying,
21 for diseases that may metastasize to the brain that
22 may respond to targeted therapies; for example,

1 lorlatinib or other agents like NTRK inhibitors,
2 and whether some have better CNS penetrations than
3 others. So I think it's a very, very important
4 consideration when we're going to do a pediatric
5 investigation of more than one same-in-class agent.

6 Let me see; who do we have here?

7 Will, I think that you are next.

8 DR. PARSONS: Will Parsons of Texas
9 Children's. I agree with you, Alberto. It's a
10 very important consideration. I'd just like to
11 emphasize that these should also be considered more
12 broadly with any available clinical data in
13 adults -- for example, where there's experience
14 with efficacy, or lack of efficacy, or any evidence
15 in patients -- in whether the drugs have CNS
16 penetration in addition to laboratory and model
17 parameters, to evaluate that.

18 DR. PAPP0: Thank you very much.

19 Do I see Ira?

20 DR. DUNKEL: Thank you, Alberto.

21 Ira Dunkel, Memorial Sloan Kettering. Of
22 course, I agree, too, and I just was also going to

1 make the point that the converse could also be
2 true. For a drug that has central nervous
3 system -- or a class of agents that has potential
4 central nervous system toxicity, if the disease
5 that's being considered is not one with CNS
6 metastatic potential, or not a primary CNS tumor,
7 that lack of blood brain barrier penetration could
8 be advantageous. So it could work in both
9 directions.

10 DR. PAPP0: That's a very, very good point.

11 Anybody else would like to comment on
12 question number 5?

13 (No response.)

14 DR. PAPP0: Joyce, do you have anybody that
15 has -- I don't see anybody here, but I will --

16 DR. YU: I don't see any hands right now.

17 DR. PAPP0: Okay. So based on the limited
18 panel discussion, we do believe that CNS
19 penetration is an important factor when considering
20 evaluation of pediatric investigation of more than
21 one same-in-class agent. Not only related to
22 efficacy of the drug, but in cases where there is

1 no need for significant CNS penetration, if you
2 have a drug that has significant side effects in
3 the CNS, that should be taken into consideration
4 when you're evaluating this class of agents.

5 If there is no further discussion of this
6 question, we will now begin with the last question,
7 which is question number 6, and we will have the
8 FDA read this question.

9 DR. REAMAN: Discuss the extent to which
10 sponsors should include sufficient data to address
11 the features discussed in initial pediatric study
12 plans to inform assessment and decision making, and
13 whether other features should be considered in
14 decision making about waiving requirements to
15 investigate multiple same-in-class drugs.

16 DR. PAPPO: If there are no questions or
17 comments concerning the wording of the question, we
18 will now open for discussion, and I'm going to
19 start looking for some hands here and for Joyce to
20 help me.

21 We have Ted Laetsch.

22 DR. LAETSCH: Hi. Ted Laetsch, Children's

1 Hospital of Philadelphia. I would agree that
2 sponsors should certainly include the data that's
3 available in their initial pediatric study plans
4 relative to the items we've discussed. I would
5 just highlight the discussion we've had about the
6 early decision-making time frame during which these
7 are developed, and the need to be respectful of
8 emerging scientific data over time. So the use of
9 things like deferrals versus waivers and/or
10 flexibility in these plans if the science changes
11 will be important as well.

12 DR. PAPPO: Thank you very much.

13 Dr. Kraus?

14 DR. KRAUS: Albert Kraus, Pfizer, industry
15 representative. I guess this could be a place
16 where my prior comment goes, which is to me it
17 seems perhaps very pertinent in same-in-class
18 drugs, given the data, flow [indiscernible] will be
19 different on different drugs.

20 If there's a good drug in adults in tumor
21 setting X, if it's inactive in multiple places in
22 pediatric settings that are logical, as well as,

1 say, a second one, I think the lack of pediatric
2 activity, regardless of comparative adult efficacy,
3 is a big driver. I think Dr. Reaman had it in his
4 slides, but he didn't ask a lot of discussion in
5 the questions on it; maybe because it's obvious.
6 But I would lay it out there because this is a
7 situation that occurs and impacts the industry
8 around decision making, and what makes sense, and
9 what proposals. That's a comment. That's all.

10 DR. PAPPO: If I understood correctly, if
11 there is lack of efficacy on one specific drug in a
12 specific class of drugs, try to be a little bit
13 more thoughtful about investigating additional
14 drugs that are in the same class given the lack of
15 efficacy in pediatrics, based on a limited number
16 of patients and a limited number of drugs?

17 Did I get that right, or not really?

18 DR. KRAUS: No, that's right. And it might
19 not be just one, but it might be a couple who've
20 tried efforts in different places even, and often
21 were doing multiple tumor types in initial trials.
22 So it might not just be the first one in one, but

1 it might be a couple same-class drugs that just
2 aren't having success in areas we'd hoped.

3 DR. PAPP0: Correct.

4 Then just to supplement that with Ted's
5 comment, the only other thing I would add is also
6 try to have some flexibility as we evaluate data
7 over time, and also consider the possibilities of
8 deferral, some certain drugs.

9 I do not see any other hands, but I will ask
10 if anybody has any additional comments on this
11 question.

12 (No response.)

13 DR. PAPP0: If not, we'll now proceed to FDA
14 closing remarks from Dr. Reaman.

15 **Closing Remarks - Gregory Reaman**

16 DR. REAMAN: Thank you very much, Dr. Pappo,
17 and thank you to the committee for your time and
18 efforts and the thoughtful discussion. This has
19 been very helpful. It will be very helpful as we
20 attempt to put together the guidance for industry
21 and investigators, and the criteria that we'll
22 evaluate and how to evaluate in the decision making

1 with respect to early planned requests for waivers.

2 So I, again, just want to clarify that these
3 are decisions that are made early in the process,
4 and not decisions that really lend themselves to
5 large multistakeholder platform discussions like
6 those that occur at the ACCELERATE strategy forums.
7 So again, we need to come to agreement with these
8 initial pediatric study plans before applicants can
9 submit their applications. While we clearly want
10 to accelerate and facilitate pediatric development,
11 we also are conscious of not wanting to do anything
12 that's going to delay access to effective cancer
13 drugs in the adult population as well.

14 I think some of the discussion around the
15 questions were helpful to us, particularly the area
16 of unmet need, which was intended to be broad and
17 not just think about unmet need in the context of
18 the diseases with suboptimal outcomes or poor
19 prognosis, but also recognizing the fact that many
20 children with diseases with a favorable prognosis
21 suffer from the unattended consequences of
22 unsuccessful therapy. So looking at opportunities

1 to evaluate and develop less toxic therapies is
2 really important.

3 As far as the quality indicators -- and I
4 guess equally or more importantly the pharmacologic
5 considerations -- looking at issues related to
6 dosage form and scheduling administration and route
7 of administration are important. We use those, and
8 we will continue to use those, looking at drug-drug
9 interactions, and particularly the interaction with
10 drugs that inhibit or increase the cytochrome P450
11 function; looking at relationships between exposure
12 and response in adults, and then potentially
13 extrapolating that to children; and clearly the
14 importance of CNS penetration both from the
15 standpoint of potential applicability to tumors
16 that are primary in CNS, or those that may
17 metastasize to the CNS, and then the contrary
18 potential risk for enhanced CNS toxicity.

19 We would certainly agree that the clinical
20 data trumps preclinical data, but as was pointed
21 out, there may be information that is, in fact,
22 important in decision making that emerges from

1 comparative nonclinical data in adult models, as
2 well as preclinical models. Whether or not there
3 may be sufficient data to really glean this kind of
4 information we need is clearly another question.

5 But I think this discussion has been very
6 helpful, and I want to also continue to mention
7 that this is an evolving process. All of this,
8 when we initially even put together a list of
9 relevant molecular targets, we made it clear that
10 these were tables that were on the FDA website that
11 were not necessarily cast in stone, and that
12 changes could occur and would occur, and, in fact,
13 have already occurred, and there is flexibility
14 with respect to decision making.

15 We, in fact, really have to exercise working
16 with all the stakeholders here, but at the same
17 time keeping in mind that our primary
18 responsibility is assuring the public health, and
19 in this situation the public health of children and
20 children with cancer.

21 So I would, again, thank you for your
22 participation, and we look forward to tomorrow's

1 session with most of you on a completely different
2 topic, but thanks again for your invaluable input
3 and discussion today. Thanks.

4 **Adjournment**

5 DR. PAPPO: Thank you very much, Greg, and I
6 want to specifically thank the FDA staff for making
7 this conference go very, very smoothly and a
8 seamless transition, so thank you.

9 We will now adjourn the meeting for today
10 and continue for the next session tomorrow at
11 10:00 a.m. Thank you very much, and have a good
12 evening.

13 (Whereupon, at 3:14 p.m., the meeting was
14 adjourned.)

15
16
17
18
19
20
21
22