

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

PEDIATRIC ONCOLOGY SUBCOMMITTEE OF THE
ONCOLOGIC DRUGS ADVISORY COMMITTEE (pedsODAC)

Virtual Meeting

Day 2

Thursday, May 12, 2022

10:00 a.m. to 2:52 p.m.

Meeting Roster**ACTING DESIGNATED FEDERAL OFFICER (Non-Voting)****Joyce Yu, PharmD**

Division of Advisory Committee and
Consultant Management
Office of Executive Programs, CDER, FDA

ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)**Mark R. Conaway, PhD**

(Participation in Day 2 Only)

Professor and Director of Translational Research
Division of Translational Research and Applied
Statistics
Department of Public Health Sciences
University of Virginia
Charlottesville, Virginia

David E. Mitchell

(Consumer Representative)

Founder, Patients for Affordable Drugs
Bethesda, Maryland

1 **Alberto S. Pappo, MD**

2 *(Chairperson, pedsODAC)*

3 Member and Head, Division of Solid Malignancies

4 St Jude Children's Research Hospital

5 Professor of Pediatrics

6 University of Tennessee Health Science Center

7 Memphis, Tennessee

8

9 **ACTING INDUSTRY REPRESENTATIVE TO THE COMMITTEE**

10 **(Non-Voting)**

11 **Albert L. Kraus, PhD**

12 Global Regulatory Portfolio Lead, Oncology

13 Pfizer, Inc.

14 Guilford, Connecticut

15

16

17

18

19

20

21

22

1 **TEMPORARY MEMBERS (Voting)**

2 **Rochelle Bagatell, MD**

3 Professor of Pediatrics

4 Perelman School of Medicine, University of

5 Pennsylvania

6 Solid Tumor Section Chief, Division of Oncology

7 Department of Pediatrics

8 The Children's Hospital of Philadelphia

9 Philadelphia, Pennsylvania

10

11 **Steven G. DuBois, MD**

12 Director, Experimental Therapeutics

13 Dana-Farber/Boston Children's Hospital

14 Associate Professor of Pediatrics

15 Harvard Medical School

16 Boston, Massachusetts

17

18

19

20

21

22

1 **Ira J. Dunkel, MD**

2 Professor of Pediatrics

3 Weill Cornell Medical College

4 Attending Pediatric Oncologist

5 Department of Pediatrics

6 Memorial Sloan Kettering Cancer Center

7 New York, New York

8

9 **Julia Glade Bender, MD**

10 Vice Chair for Clinical Research

11 Department of Pediatrics

12 Memorial Sloan Kettering Cancer Center

13 New York, New York

14

15

16

17

18

19

20

21

22

1 **Richard Gorlick, MD**

2 Division Head and Department Chair, Pediatrics

3 Professor of Pediatrics

4 H. Grant Taylor, M.D., W.W. Sutow, M.D. and

5 Margaret P. Sullivan, M.D. Distinguished Chair in

6 Pediatrics

7 Department Chair *ad interim*

8 Sarcoma Medical Oncology

9 University of Texas MD Anderson Cancer Center

10 Children's Cancer Hospital

11 Houston, Texas

12

13 **AeRang Kim, MD, PhD**

14 Director of Clinical Research

15 Division of Oncology

16 Children's National Hospital

17 Associate Professor of Pediatrics

18 The George Washington School of Medicine

19 Washington, District of Columbia

20

21

22

1 **E. Anders Kolb, MD**

2 Director, Nemours Center for Cancer and Blood

3 Disorders

4 Nemours Children's Health

5 Wilmington, Delaware

6 Professor, Department of Pediatrics

7 Sidney Kimmel Medical College at

8 Thomas Jefferson University

9 Philadelphia, Pennsylvania

10

11 **Theodore W. Laetsch, MD**

12 Associate Professor of Pediatrics

13 University of Pennsylvania/

14 Abramson Cancer Center

15 Director, Developmental Therapeutics and Very Rare

16 Malignant Tumor Programs

17 Children's Hospital of Philadelphia

18 Philadelphia, Pennsylvania

19

20

21

22

1 **Gianna McMillan, D.Be**

2 *(Patient Representative; Participation in Day 2*
3 *Only)*

4 Los Angeles, California

5

6 **D. Williams (Will) Parsons, MD, PhD**

7 Associate Professor of Pediatrics

8 Baylor College of Medicine

9 Deputy Director, Texas Children's Cancer and

10 Hematology Centers

11 Houston, Texas

12

13 **Nita Seibel, MD**

14 *(Participation in Day 2 Only)*

15 Head, Pediatric Solid Tumor Therapeutics

16 Clinical Investigations Branch

17 Cancer Therapy Evaluation Program

18 Division of Cancer Treatment and Diagnosis

19 National Cancer Institute (NCI)

20 National Institutes of Health (NIH)

21 Bethesda, Maryland

22

1 FDA PARTICIPANTS (Non-Voting)

2 **Gregory H. Reaman, MD**

3 Associate Director for Pediatric Oncology

4 Oncology Center of Excellence (OCE)

5 Office of the Commissioner (OC)

6 Associate Director for Pediatric Oncology

7 Office of Oncologic Diseases (OOD)

8 Office of New Drugs (OND), CDER, FDA

9

10 **Martha Donoghue, MD**

11 Acting Associate Director for Pediatric and Rare

12 Cancer Drug Development

13 OCE, OC

14 Deputy Director, Division of Oncology 2 (DO2)

15 OOD, OND, CDER, FDA

16

17 **Diana Bradford, MD**

18 *(Participation in Day 2 Only)*

19 Cross-Discipline Team Leader

20 DO2, OOD, OND, CDER, FDA

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Anup Amatya, PhD

(Participation in Day 2 Only)

Acting Lead Mathematical Statistician

Division of Biometrics V

Office of Biostatistics

Office of Translational Sciences, CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order	
4	Alberto Pappo, MD	14
5	Introduction of Subcommittee	
6	Joyce Yu, PharmD	14
7	Conflict of Interest Statement	
8	Joyce Yu, PharmD	20
9	FDA Introductory Remarks	
10	Gregory Reaman, MD	25
11	Martha Donoghue, MD	26
12	FDA Presentation	
13	High-Risk Neuroblastoma:	
14	Current Treatment and Regulatory Insights	
15	Diana Bradford, MD	30
16	Guest Speaker Presentation	
17	Current Treatment and Regulatory	
18	Insights - EMA and FDA Part II	
19	Dominik Karres, MD	42
20	Clarifying Questions	46
21		
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	Guest Speaker Presentations	
4	Accelerating Cure for High-Risk	
5	Neuroblastoma	
6	Leona Knox	54
7	Improving Access to Novel Therapies in	
8	High-Risk Neuroblastoma	
9	Navin Pinto, MD	64
10	Multistakeholder Perspective on Current and	
11	Potential Future Use of End-Induction	
12	Response in Patient Care and	
13	Drug Development	
14	Maja Beck Popovic, MD	72
15	Clarifying Questions	84
16		
17		
18		
19		
20		
21		
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	Speaker Presentation	
4	Steps to Validation of Early Endpoints to	
5	Support Drug Development in Neuroblastoma:	
6	Key Concepts	
7	Lisa McShane, PhD	110
8	FDA Presentation	
9	Early Endpoint Validation	
10	Anup Amatya, PhD	133
11	Clarifying Questions	143
12	Open Public Hearing	160
13	Questions to the Subcommittee and Discussion	165
14	Closing Remarks	217
15	Martha Donoghue, MD	197
16	Adjournment	201
17		
18		
19		
20		
21		
22		

P R O C E E D I N G S

(10:00 a.m.)

Call to Order

DR. PAPPO: Well, welcome to day 2. I hope you all had some rest and a nice dinner.

Good morning and welcome. I would first like to remind everyone to please mute your line when you are not speaking. For media and press, the FDA press contact is Chanapa Tantibanchachai, and her email and phone are currently displayed.

My name is Alberto Pappo, and I will be chairing today's meeting. I will now call the May 12, 2022 meeting of the Pediatric Oncology Subcommittee of the Oncologic Drugs Advisory Committee to order. Dr. Joyce Yu is the acting designated federal officer for this meeting and will begin with introductions.

Introduction of Subcommittee

DR. YU: Good morning. My name is Joyce Yu, and I am the acting designated federal officer for this meeting. When I call your name, please introduce yourself by stating your name and

1 affiliation.

2 We'll start with Dr. Conaway.

3 DR. CONAWAY: Mark Conaway, University of
4 Virginia.

5 DR. YU: Mr. Mitchell?

6 MR. MITCHELL: I'm David Mitchell. I am the
7 consumer representative to the ODAC. I am
8 president of Patients for Affordable Drugs, and I
9 am a multiple myeloma patient.

10 DR. YU: Thank you.

11 I just want to remind everyone to please
12 keep your line muted when you're not speaking.

13 Dr. Pappo?

14 DR. PAPP0: Good morning. I'm Alberto
15 Pappo. I'm a pediatric oncologist at St. Jude
16 Children's Research Hospital, and I'm the
17 chairperson for the Pediatric ODAC.

18 DR. YU: Dr. Bagatell?

19 DR. BAGATELL: Hi. My name is Ro Bagatell.
20 I'm a pediatric oncologist at the Children's
21 Hospital of Philadelphia.

22 DR. YU: Dr. DuBois?

1 DR. DuBOIS: Hi. This is Steve DuBois, a
2 pediatric oncologist at Dana-Farber Boston
3 Children's.

4 DR. YU: Dr. Dunkel?

5 DR. DUNKEL: Good morning. This is Ira
6 Dunkel. I'm a pediatric neuro-oncologist at
7 Memorial Sloan Kettering Cancer Center.

8 DR. YU: Dr. Glade Bender, please.

9 DR. GLADE BENDER: Good morning. I'm Julia
10 Glade Bender. I am a pediatric oncologist also at
11 Memorial Sloan Kettering Cancer Center in New York.

12 DR. YU: Dr. Gorlick?

13 DR. GORLICK: Good morning. I'm Richard
14 Gorlick. I'm a pediatric oncologist at MD Anderson
15 Cancer Center in Houston, Texas.

16 DR. YU: Thank you, Dr. Gorlick.

17 My apologies, again. I just want to remind
18 all of our participants today to please be mindful
19 of the advancing of the slides. Thank you.

20 Dr. Kim, please.

21 DR. KIM: Hi. This is AeRang Kim from
22 Children's National in DC. I'm a pediatric

1 oncologist there.

2 DR. YU: Dr. Kolb?

3 DR. KOLB: Yes. Hi. Andy Kolb. I'm a
4 pediatric hematologist/oncologist at Nemours
5 Children's Health.

6 DR. YU: Dr. Laetsch?

7 DR. LAETSCH: Hi. I'm Ted Laetsch. I'm a
8 pediatric oncologist at Children's Hospital
9 Philadelphia at University of Pennsylvania.

10 DR. YU: Thank you.

11 Dr. Laetsch, your audio is a bit low on my
12 end. Could you introduce yourself one more time,
13 please?

14 DR. LAETSCH: Sure. Hi. Is this better?

15 DR. YU: Yes.

16 DR. LAETSCH: Hi. I'm Ted Laetsch. I'm a
17 pediatric oncologist at the Children's Hospital of
18 Philadelphia at University of Pennsylvania.

19 DR. YU: Thank you so much.

20 Dr. McMillan?

21 DR. McMILLAN: Good morning. I'm Gigi
22 McMillan. I'm a bioethicist at Loyola Marymount

1 University in Los Angeles, and I'm a patient
2 representative.

3 DR. YU: Thank you.

4 Dr. Parsons?

5 DR. PARSONS: Good morning. This is Will
6 Parsons. I'm a pediatric oncologist at Texas
7 Children's Hospital and Baylor College of Medicine
8 in Houston, Texas.

9 DR. YU: Dr. Seibel?

10 DR. SEIBEL: Hi. Good morning. I'm Nita
11 Seibel, pediatric oncologist in the Clinical
12 Investigations Branch at CTEP.

13 DR. YU: Dr. Kraus?

14 DR. KRAUS: Good morning. Albert Kraus. I
15 work in research and development, for decades, in
16 oncology therapeutics. I'm currently with Pfizer
17 Corporation, and I'm the industry representative.
18 Thank you.

19 DR. YU: I'll now introduce our FDA
20 participants for today, starting with Dr. Reaman.

21 DR. REAMAN: Good morning. I'm Greg Reaman,
22 associate director for pediatric oncology in the

1 FDA's Oncology Center of Excellence and the Office
2 of Oncologic Diseases in CDER.

3 DR. YU: Dr. Donoghue?

4 DR. DONOGHUE: Hi. Good morning. I'm a
5 pediatric oncologist at the FDA, and I work in one
6 of the review divisions that oversee the
7 development of oncology products.

8 DR. YU: Dr. Bradford?

9 DR. BRADFORD: Good morning. My name is
10 Diana Bradford. I'm a pediatric oncologist and
11 cross-discipline team leader in the Division of
12 Oncology 2 in CDER at FDA.

13 DR. YU: Dr. Amatya?

14 DR. AMATYA: Good morning. I'm Anup Amatya.
15 I'm a statistician in Biometrics Division V at
16 CDER.

17 DR. YU: Dr. Pappo, please?

18 DR. PAPP0: Thank you very much, Joyce.

19 For topics such as those discussed at this
20 meeting, there are often a variety of opinions,
21 some of which are quite strongly held. Our goal is
22 that this meeting will be a fair and open forum for

1 discussion of these issues and that individuals can
2 express their views without interruption. Thus, as
3 a gentle reminder, individuals will be allowed to
4 speak into the record only if recognized by the
5 chairperson. We look forward to a productive
6 meeting.

7 In the spirit of the Federal Advisory
8 Committee Act and the Government in the Sunshine
9 Act, we ask that the advisory committee members
10 take care that their conversations about the topic
11 at hand take place in the open forum of the
12 meeting. We are aware that members of the media
13 are anxious to speak with the FDA about these
14 proceedings, however, the FDA will refrain from
15 discussing the details of this meeting with the
16 media until its conclusion. Also, the committee is
17 reminded to please refrain from discussing the
18 meeting topic during the break. Thank you.

19 Now Dr. Joyce Yu will read the Conflict of
20 Interest Statement for the meeting.

21 **Conflict of Interest Statement**

22 DR. YU: The Food and Drug Administration,

1 FDA, is convening today's meeting of the Pediatric
2 Oncology Subcommittee of the Oncologic Drugs
3 Advisory Committee under the authority of the
4 Federal Advisory Committee Act, FACA, of 1972.
5 With the exception of the industry representative,
6 all ODAC members and temporary members of the
7 subcommittee are special government employees,
8 SGEs, or regular federal employees from other
9 agencies and are subject to federal conflict of
10 interest laws and regulations.

11 The following information on the status of
12 this subcommittee's compliance with federal ethics
13 and conflict of interest laws, covered by but not
14 limited to those found at 18 U.S.C. Section 208, is
15 being provided to participants in today's meeting
16 and to the public.

17 FDA has determined that ODAC members and
18 temporary members of this subcommittee are in
19 compliance with federal ethics and conflict of
20 interest laws. Under 18 U.S.C. Section 208,
21 Congress has authorized FDA to grant waivers to
22 special government employees and regular federal

1 employees who have potential financial conflicts
2 when it is determined that the agency's need for a
3 special government employee's services outweighs
4 his or her potential financial conflict of
5 interest, or when the interest of a regular federal
6 employee is not so substantial as to be deemed
7 likely to affect the integrity of the services
8 which the government may expect from the employee.

9 Related to the discussions of today's
10 meeting, ODAC members and temporary members of this
11 subcommittee have been screened for potential
12 financial conflicts of their own as well as those
13 imputed to them, including those of their spouses
14 or minor children and, for purposes of 18 U.S.C.
15 Section 208, their employers. These interests may
16 include investments; consulting; expert witness
17 testimony; contracts, grants, CRADAs; teaching,
18 speaking, writing; patents and royalties; and
19 primary employment.

20 Today's agenda involves consideration and
21 discussion of the potential utility and steps to
22 validation of an intermediate clinical endpoint,

1 response to induction therapy, in the development
2 of new drugs for the first-line treatment of
3 patients with high-risk neuroblastoma. The
4 European Medicines Agency has also been invited to
5 present.

6 This is a particular matters meeting during
7 which general issues will be discussed. Based on
8 the agenda for today's meeting and all financial
9 interests reported by the ODAC members and
10 temporary members of the subcommittee, no conflict
11 of interest waivers have been issued in connection
12 with this meeting. To ensure transparency, we
13 encourage all ODAC members and temporary members of
14 the subcommittee to disclose any public statements
15 that they have made concerning the topic at issue.

16 With respect to FDA's invited industry
17 representative, we would like to disclose that
18 Dr. Albert Kraus is participating in this meeting
19 as a non-voting industry representative, acting on
20 behalf of regulated industry. Dr. Kraus' role at
21 this meeting is to represent industry in general
22 and not any particular company. Dr. Kraus is

1 employed by Pfizer.

2 With regard to FDA's guest speakers, the
3 agency has determined that the information to be
4 provided by these speakers is essential. The
5 following guest speakers have reported interests
6 which are being made public to allow the audience
7 to objectively evaluate any presentation and/or
8 comments made by the speakers.

9 Dr. Dominik Karres has acknowledged that he
10 is employed by the European Medicines Agency, EMA.
11 Dr. Navin Pinto has acknowledged that he is an
12 unpaid scientific advisor for Y-Mabs Therapeutics.
13 Dr. Maja Beck Popovic has acknowledged that she is
14 employed by the University Hospital in Lausanne,
15 Switzerland. As guest speakers, Dr. Karres, Pinto,
16 Beck Popovic, and Ms. Knox will not participate in
17 subcommittee deliberations, nor will they vote.

18 We would like to remind ODAC members and
19 temporary members of the subcommittee that if the
20 discussions involve any other topics not already on
21 the agenda for which an FDA participant has a
22 personal or imputed financial interest, the

1 participants need to exclude themselves from such
2 involvement, and their exclusion will be noted for
3 the record. FDA encourages all participants to
4 advise the committee of any financial relationships
5 that they may have regarding the topic that could
6 be affected by the subcommittee's discussions.

7 Thank you.

8 DR. PAPPO: Thank you very much, Joyce.

9 We will now proceed with the FDA
10 introductory remarks.

11 DR. DONOGHUE: Thank you, Dr. Pappo. I
12 think Dr. Reaman may want to start things out.

13 Dr. Reaman?

14 DR. REAMAN: Thanks, Martha.

15 **FDA Introductory Remarks - Gregory Reaman**

16 DR. REAMAN: Good morning. This is Greg
17 Reaman, and I again want to welcome the members of
18 the committee to the second day of our advisory
19 committee meeting, and a special welcome to those
20 of you who weren't here yesterday, and just to
21 remind you of the importance of the discussion and
22 the deliberations as it relates to informing FDA

1 regulatory decision making. So we very much
2 appreciate the time and effort that you're putting
3 into this.

4 I'd like to, again, acknowledge, in the
5 spirit of international collaboration, a special
6 welcome to my colleague, Dominik Karres, from the
7 Pediatric Medicines Office at the European
8 Medicines Agency, and a special welcome to our
9 European patient advocates and investigators who
10 will participate as presenters in this session.

11 With that, back to you, Dr. Donoghue. Thank
12 you.

13 DR. DONOGHUE: Thanks, Greg.

14 I'd like to echo Dr. Reaman's welcome, and
15 thank all of those on the committee and guest
16 speakers for devoting their expertise and time to
17 today's meeting, which will focus on important
18 topics relevant to the development of drugs for the
19 treatment of patients with high-risk neuroblastoma.

20 I'd also like to extend a warm welcome to
21 all stakeholders who are attending today's session
22 for your interest, and being present here to help

1 discuss how we can align and collaborate together
2 to help advance treatment of pediatric patients
3 with cancer. We at the FDA value very much your
4 collaboration and support.

5 The topics of today's session is a bit of a
6 shift in focus compared to yesterday's higher level
7 broad discussion, which was aimed at developing a
8 framework to inform FDA decision making regarding
9 pediatric development plans when there are multiple
10 same-in-class molecularly targeted products. We're
11 here today because we all recognize that children
12 with high-risk neuroblastoma have a high unmet
13 medical need, and we have a vested interest in
14 working together to develop new treatments that are
15 safe and effective for pediatric patients with
16 cancer as efficiently as possible.

17 Together today, we'll consider and discuss
18 the current use and potential future validation of
19 a biomarker, which we also refer to sometimes as an
20 early or intermediate clinical endpoint,
21 end-of-induction response, for clinical decision
22 making and development of new drugs for the

1 treatment of patients with high-risk neuroblastoma,
2 with a focus on the frontline setting.

3 We will hear perspectives from a variety of
4 stakeholders from the U.S. and abroad. First,
5 we'll hear from Drs. Bradford and Karres, who will
6 provide regulatory insights on the current
7 treatment approaches and ongoing efforts in the
8 development of new drugs for the first-line
9 treatment of pediatric patients with high-risk
10 neuroblastoma.

11 After that, we will hear important
12 perspectives from Ms. Leona Knox, who's a patient
13 advocate, who has research at Solving Kids' Cancer
14 in the UK, as well as Drs. Pinto and Beck Popovic,
15 who conduct research in high-risk neuroblastoma,
16 and will provide their thoughts on how to best
17 develop new treatments for these patients,
18 including their perspective on use of
19 end-of-induction response in patient care and drug
20 development.

21 After some clarifying questions and a break
22 for lunch, we'll shift gears a bit and hear

1 perspectives from statistical colleagues from the
2 National Cancer Institute and the FDA, Drs. McShane
3 and Dr. Amatya, respectively, on how we might
4 formulate a path forward to validate
5 end-of-induction response as an early endpoint for
6 assessment of investigational drugs developed for
7 patients with high-risk neuroblastoma.

8 Lastly, after the open public hearing, we
9 look forward to a robust discussion on use of early
10 clinical endpoints for the development, in general,
11 in pediatric neuroblastoma, and in particular, the
12 current strength of evidence for use of
13 end-of-induction response, how it's being used
14 currently for clinical decision making and trial
15 conduct, as well as future steps to validation of
16 this endpoint, if warranted.

17 Thank you very much again for your attention
18 and input, and I look forward to a fruitful
19 discussion, and I will turn the podium now over to
20 Dr. Diana Bradford.

21 DR. PAPPO: Thank you, Dr. Donoghue and
22 Dr. Reaman.

1 We will now proceed with an FDA and guess
2 presentation, starting with Dr. Diana Bradford,
3 followed by Dr. Dominik Karres.

4 **FDA Presentation - Diana Bradford**

5 DR. BRADFORD: Thank you.

6 Good morning, everyone. Again, my name is
7 Diana Bradford. This morning I'll briefly provide
8 some background for today's discussion, including
9 the approach to initial treatment of patients with
10 high-risk neuroblastoma, current trials and
11 investigational strategies, and finally highlight
12 some aspects of prior FDA approvals in this disease
13 space, before turning the floor over to Dr. Dominik
14 Karres from the European Medicines Agency.

15 Neuroblastoma is the most common
16 extracranial solid tumor in pediatric patients with
17 approximately 650 new cases per year in the U.S.
18 This is primarily a disease of young children with
19 a median age of diagnosis of 19 months, and
20 90 percent of all diagnoses of neuroblastoma
21 occurring by 5 years of age.

22 This is also a very heterogeneous disease

1 with variable clinical presentations and biology,
2 including molecular characteristics, and ultimately
3 prognosis. Risk groups are based on patient age,
4 stage, and molecular and histological
5 characteristics of the tumor, and are used to
6 determine appropriate treatment.

7 While some patients may require only
8 surgical resection or even observation, patients
9 with high-risk disease require intensive
10 multimodality therapy. These patients are the
11 focus of today's discussion.

12 Even with this intensive therapy, patients
13 with high-risk neuroblastoma have a 40 to
14 50 percent chance of long-term survival, and
15 survivors may have substantial long-term effects
16 from their cancer therapy. At relapse, there are
17 few treatment options, and patients face a very
18 poor prognosis. Patients with high-risk
19 neuroblastoma have an unmet medical need and
20 additional therapeutic options are needed.

21 The general approach to the initial
22 treatment of high-risk neuroblastoma is similar

1 between the U.S. and Europe. Treatment includes
2 induction, consisting of multiple cycles of
3 chemotherapy with surgical resection;
4 consolidation, consisting of myeloablative
5 chemotherapy with autologous stem-cell transplant
6 with radiation therapy to primary and metastatic
7 sites; and post-consolidation with anti-GD2
8 therapy, GM-CSF, and isotretinoin.

9 The specific number, frequency, and
10 composition of induction cycles differ between the
11 U.S. and Europe, as does the use of tandem
12 transplantation. The specific anti-GD2 antibody
13 also differs between the U.S. and Europe.

14 Of course, in pediatric oncology there is
15 substantial participation in clinical trials, and
16 one cannot describe the approach to treatment
17 without discussing ongoing cooperative group
18 trials, such as such ANBL 1531.

19 This is the current children's oncology
20 group COG trial for high-risk neuroblastoma. This
21 is a randomized trial consisting of five arms, with
22 eligibility for different arms determined by MIBG

1 avidity and ALK positivity. Patients with
2 ALK-positive tumors and those with ALK-negative
3 MIBG-negative tumors are non-randomly assigned, and
4 patients with MIBG-positive/ALK-negative tumors are
5 randomized to Arms A or B. The initially opened
6 Arm C is now closed to accrual.

7 This trial is evaluating primarily the
8 addition of MIE [ph] therapy to induction and the
9 addition of ALK inhibition throughout first-line
10 therapy for patients with ALK aberrant
11 neuroblastoma. The primary endpoint of this trial
12 is event-free survival or EFS.

13 In Europe, the HR-NBL2 trial is ongoing and
14 is investigating different approaches to induction,
15 consolidation, radiation, and administration. The
16 primary endpoint of the HR-NBL2 trial is also
17 event-free survival. Thank you to Dr. Lucas Moreno
18 for sharing this slide.

19 In the induction, patients will be
20 randomized to the rapid COJEC versus German
21 pediatric oncology/hematology, or GPOH, regimen;
22 and consolidation patients will be randomized to a

1 single transplant or tandem transplant; and
2 finally, for patients with residual disease,
3 randomization will evaluate the addition of a boost
4 to residual tumor, in addition to the standard dose
5 of radiation to the preoperative tumor bed.
6 Patients with poor response to induction
7 chemotherapy may enroll in the VERITAS trial, as
8 shown here, which is a randomized trial of MIBG
9 therapy plus autologous transplant versus tandem
10 transplant.

11 In addition to the investigational age
12 described in the prior slide, there's interest in
13 adding agents to first-line therapy to improve
14 outcomes in the EU, as we have seen in U.S. trials.
15 The addition of ALK inhibition to frontline,
16 high-risk therapy in the EU is forthcoming, and
17 there's also future interest in augmenting initial
18 therapy with chemoimmunotherapy.

19 I'll turn now to an example of a development
20 program leading to FDA approval in high-risk
21 neuroblastoma to illustrate some regulatory and
22 development considerations. Dinutuximab was

1 approved in 2015 in combination with GM-CSF, IL-2,
2 and 13-cis-retinoic acid for the treatment of
3 pediatric patients with high-risk neuroblastoma in
4 the frontline maintenance setting, who achieved at
5 least a partial response to prior first-line
6 multiagent, multimodality therapy. This was the
7 first drug approved specifically for patients with
8 high-risk neuroblastoma.

9 The basis for the approval was COG study
10 ANBL 0032. This is a randomized, open-label,
11 multicenter trial conducted in pediatric patients
12 with high-risk neuroblastoma. All patients had
13 received prior therapy consisting of induction
14 chemotherapy; maximum feasible surgical resection;
15 myeloablative consolidation chemotherapy followed
16 by transplant; and radiation therapy to residual
17 soft-tissue disease.

18 Patients were randomized between days 50 and
19 77 post-transplant. They were required to have
20 achieved at least a partial response prior to
21 transplant and have no evidence of disease
22 progression following completion of frontline

1 therapy. A total of 226 patients were randomized.
2 The major efficacy outcome measure was
3 investigator-assessed event-free survival.

4 The study demonstrated a clinically
5 meaningful and statistically significant
6 improvement in event-free survival in patients
7 randomized post-consolidation to receive
8 dinutuximab plus IL 2, GM-CSF, and isotretinoin
9 versus isotretinoin alone, with results shown here.
10 The EFS hazard ratio was 0.57, the confidence
11 interval shown here, and a p-value of 0.01. EFS
12 results were supported by a trend in improvement in
13 overall survival.

14 I wanted to highlight the timeline for the
15 dinutuximab development program to illustrate some
16 challenges. Anti-GD2 antibodies were initially
17 evaluated in neuroblastoma in the 1990s. The
18 original IND submission for dinutuximab was
19 submitted to the FDA in 1991. Following the
20 opening of ANBL 0032, due to the relevant rarity of
21 high-risk neuroblastoma, it took 7 years to accrue
22 the requisite number of patients on the randomized

1 portion of the study.

2 Randomization was stopped in 2009, after
3 which a cooperative research and development
4 agreement, or CRADA, was established between NCI
5 and the commercial sponsor, United Therapeutics.
6 Subsequent steps to establish manufacturing and
7 comparability of products were needed, which were
8 time-intensive. The BLA was approved in 2015.

9 The timeline for the development of
10 dinutuximab, spanning more than two decades, can
11 provide some insight and interest in earlier
12 endpoints, as well as to the need to consider the
13 potential for commercial development early in
14 investigation to avoid delays in drug development.

15 Two products have been approved specifically
16 for patients with high-risk neuroblastoma in the
17 last 10 years, including one in the first-line
18 setting, and these cases highlight some regulatory
19 considerations for development to neuroblastoma.
20 As just discussed, dinutuximab was approved on the
21 basis of improvement in EFS, supported by a trend
22 in improvement in overall survival. EFS and OS are

1 difficult to interpret in the absence of
2 randomization. Here, randomization allowed
3 isolation of the treatment effect of dinutuximab,
4 plus GM-CSF, and Il-2.

5 Understanding the treatment effect of one
6 component of treatment, as well as the contribution
7 of each component within multimodality therapy, is
8 one of many challenges in considering trial design
9 in this disease space. Relapse and refractory
10 disease is not the focus of today's discussion, but
11 to briefly mention the recent approval in this
12 disease.

13 Naxitamab is approved in combination with
14 GM-CSF for the treatment of pediatric patients with
15 relapsed or refractory neuroblastoma in the bone or
16 bone marrow, who have demonstrated a partial
17 response, minor response, or stable disease
18 following prior therapy. It was approved on the
19 basis of overall response rate as assessed by
20 blinded independent review.

21 The FDA considered that a randomized trial
22 in this setting could be challenging given the lack

1 of approved therapies, which might serve as a
2 comparator, and given the rarity of the disease.
3 Overall response rate may be an appropriate
4 endpoint when responses can be objectively measured
5 and may be used to support an approval. If the
6 overall response rate is substantial in the context
7 of available therapies, the duration of response is
8 substantial, and together these can be considered
9 likely to be predictive of clinical benefit.

10 I think it is important to point out the
11 unique development considerations in pediatric
12 oncology as illustrated through these approvals.
13 Investigation of both these products were initiated
14 not by pharmaceutical companies but by academic
15 investigators and cooperative groups.

16 To briefly summarize, patients with
17 high-risk neuroblastoma have a high unmet medical
18 need. Few drugs have been approved specifically
19 for patients with high-risk neuroblastoma, and
20 there is a need for improved outcomes. Recognizing
21 this, and the worst prognosis, and limited options
22 upon relapse, the exploration of additions to

1 frontline therapy are the focus of both U.S. and EU
2 frontline trials, including the additions of drugs
3 to induction regimens such as the addition of ALK
4 inhibition for patients with ALK-positive tumors
5 and the addition of MIBG therapy early in therapy
6 in the COG study, ANBL 1531.

7 In Europe, changes to first-line therapy are
8 also being evaluated. ALK inhibition is being
9 explored in the first-line setting as well, with
10 future interest in the addition of immunotherapy
11 earlier in therapy. Prior approvals in this space
12 have been founded on trials initially driven by the
13 research of the pediatric oncology academic and
14 cooperative group community with involvement of
15 pharmaceutical companies at various points in
16 development. Investigators, pharmaceutical
17 companies, regulatory agencies, and patients all
18 bring unique perspectives and insights to this
19 process, highlighting the need for early
20 multistakeholder collaboration to bring new
21 therapies to patients as efficiently as possible.

22 Drug development in high-risk neuroblastoma

1 faces several challenges, including designing
2 trials adequately to isolate the treatment effect
3 of a given therapy and the time required to conduct
4 larger trials given the rarity of the disease.
5 Survival-based endpoints, specifically EFS, have
6 been used to support approval in the first-line
7 setting.

8 If one considers potential delays as
9 observed with the dinutuximab development program,
10 in addition to a long timeline based on accrual
11 rate, it is easy to understand the interest in
12 exploring the use of intermediate clinical
13 endpoints such as end-of-induction response to
14 support drug development.

15 As we will be discussing at length today,
16 and depending upon the extended validation,
17 intermediate clinical endpoints may permit earlier
18 assessment of efficacy of a given treatment but
19 also can be used in other ways to inform
20 development.

21 Now, I will turn to my colleague,
22 Dr. Dominik Karres at EMA, for his thoughts on the

1 development of intermediate endpoints. Thank you
2 for your attention.

3 **Guest Speaker Presentation - Dominik Karres**

4 DR. KARRES: Thank you very much,
5 Dr. Bradford, and thank you very much again for the
6 invitation an opportunity to provide a general EMA
7 perspective on the development and utility of
8 intermediate endpoints such as end-of-induction
9 response to support drug development considerations
10 for the treatment of patients with high-risk
11 neuroblastoma. This is my usual disclaimer.

12 I would like to start re-emphasizing that
13 regulatory approval of a new drug is based on the
14 robustness of evidence, demonstrating clinical
15 benefit, for example, by means of clinically
16 meaningful survival improvements balanced against
17 identified risks. This includes the need for
18 considerations related to the actual individual
19 contribution of a new drug to benefit and risks,
20 and context of its use within multimodal treatment
21 regimens.

22 With that in mind, mature data generation

1 with the objective to informing benefit-risk
2 considerations in front-line high-risk
3 neuroblastoma takes time, as we all know and have
4 experienced, and it's not even feasible for all
5 potentially available suitable novel agents.

6 Appreciating now the high unmet medical
7 need, as we've heard, taking into account the
8 prognosis, limited treatment options at relapse,
9 but also toxicities of current treatments, there's
10 a clear need, as mentioned by Dr. Bradford, for
11 early multistakeholder collaboration to finding new
12 ways to timely bring novel agents to patients with
13 newly diagnosed high-risk neuroblastoma, for
14 example, by means of intermediate endpoint
15 considerations to support guiding decision making
16 and priorities to accelerate drug development in
17 the interest of the patient.

18 I will now very generally reflect on what
19 potential purposes an intermediate endpoint like
20 end-of-induction response could have, all having
21 its value potentially able to supporting regulatory
22 decision making, and I'm sure subsequent speakers

1 will provide more detailed insights later today.

2 Depending on the level of evidence available
3 and needed to justify its use, this could, for
4 example, be to support guiding patient care as
5 considered in the SIOOPEN study, where parents with
6 poor response to induction chemotherapy may enroll
7 in the VERITAS trial, as described earlier by
8 Dr. Bradford; or guiding prioritization discussions
9 where one could see the value of an early
10 assessment of efficacy guiding further
11 contextualized development discussions potentially
12 supporting go or no-go decisions; and lastly, to
13 ultimately serving as a validated surrogate
14 endpoint in a pivotal clinical trial, meaning
15 available evidence being strong enough, showing a
16 proven prognostic relationship between
17 end-of-induction response and the clinical outcome
18 of its survival; allowing to support regulatory
19 benefit, a benefit-risk decision making as outlined
20 on my previous slide; and appreciating here the
21 necessary regulatory validation steps in this case
22 requires a high level of convincing evidence and

1 scrutiny prior to agreement of its use. And again,
2 I'm sure will hear about more on that point later
3 today.

4 To conclude my short presentation and
5 reflections, end-of-induction response in patients
6 with high-risk neuroblastoma may have potential
7 utility in forming and accelerating drug
8 development efforts, so I'm very much looking
9 forward to today's discussion and would like to
10 thank the FDA again for the opportunity to
11 participate. But for such an endeavor to be
12 successful and to eventually benefiting patients,
13 international multistakeholder collaboration and
14 early interactions with the regulators is key, I
15 believe, to ensuring that all available evidence
16 can be independently reviewed to supporting a
17 proposed intended use of such an endpoint within
18 regulatory submissions.

19 Having said that, and with the focus on EMA
20 procedures, I would like to take this opportunity
21 to inviting the academic community to come forward,
22 considering EMA qualification advice in that regard

1 as a necessary step in moving this discussion
2 forward from a European perspective. We would be
3 more than happy to guiding and supporting you in
4 the necessary preparatory activities with the
5 objective to, of course, continuing the discussion
6 and continuous close collaboration with the FDA,
7 ensuring that it benefits patients on both sides of
8 the Atlantic.

9 That concludes my part of the presentation,
10 and I would like to thank you very much again.

11 **Clarifying Questions**

12 DR. PAPPO: Thank you very much,
13 Dr. Bradford and Dr. Karres.

14 We have about 20 minutes for questions, so
15 we will now take clarifying questions for
16 Dr. Bradford and Karres. Please use the raise-hand
17 icon to indicate that you have a question, and
18 remember to clear the icon after you have asked a
19 question. When acknowledged, please remember to
20 state your name for the record before you speak and
21 direct your question to a specific presenter, if
22 you can.

1 If you wish for a specific slide to be
2 displayed, please let us know the slide number if
3 possible. Finally, it would be helpful to
4 acknowledge the end of your question with a thank
5 you and end of your follow-up question with, "That
6 is all for my questions," so we can move on to the
7 next panel member.

8 We are now open for questions. I see
9 Dr. McMillan.

10 DR. McMILLAN: Yes. Thank you. This is
11 Dr. Gigi McMillan from Los Angeles.

12 Dr. Karres, you mentioned that you thought
13 independent verification of early endpoints would
14 be needed for there to be enough evidence to use
15 them for making decisions about trial progression.
16 Can you elaborate a little bit on that?

17 DR. KARRES: This is Dominik Karres. Thanks
18 a lot for the question.

19 Indeed, what I refer to here is with a
20 perspective on European regulatory requirements
21 with regard to pediatric investigations plans as an
22 example, ensuring that any decisions with regard to

1 novel agent introduction into frontline treatment,
2 and then considerations with regard to which
3 product to continue moving forward into full
4 development in that indication, would certainly
5 require some discussions in terms of understanding,
6 from our side; and considerations, what would be
7 considered acceptable threshold levels in terms of
8 responses seen for individual products; if that
9 answers your question. Thank you.

10 DR. McMILLAN: Yes. Thank you very much.

11 DR. PAPPO: Any additional questions?

12 I had a question, and maybe this is a little
13 bit for later because I know that we're going to
14 have some talks by statisticians. But are there
15 any thoughts to how this will affect protocol
16 design if you develop a new endpoint, and how
17 you're going to interpret data with overall
18 survival, and PFS, and EFS, and if you are going to
19 take patients off protocol or give them an
20 alternative regimen if they don't have a CR or PR
21 at the end of induction, or should we leave that
22 for later, for statistical discussion?

1 DR. BRADFORD: This is Diana Bradford. I
2 think it may be helpful to have a little bit more
3 discussion from the statisticians before we delve
4 into that question. If others from FDA feel
5 differently, we could do it I guess now.

6 DR. PAPPO: Okay. We'll just wait for the
7 statistical presentations later in the day. Thank
8 you.

9 Anybody else have any questions that they
10 would like to ask Dr. Bradford or Dr. Karres before
11 we move to the next guest presentations?

12 Dr. Seibel?

13 DR. SEIBEL: Yes. Perhaps Dr. Bradford
14 could provide a little bit more detail about the
15 VERITAS trial that patients in Europe will go to if
16 they have an inadequate response to induction.

17 DR. BRADFORD: Yes. This is Diana Bradford.
18 I may turn to Dr. Karres for his input, as I only
19 have a very high level understanding of this trial,
20 and my understanding it's open to patients with
21 poor response to induction chemotherapy, such as on
22 the HR-NBL2 trial, and they would then proceed to a

1 randomized trial of MIBG therapy plus autologous
2 transplant compared to arm that's tandem
3 transplantation.

4 Dr. Karres, do you have any further
5 comments?

6 DR. KARRES: This is Dominik Karres. No,
7 nothing in addition to add to that. You have
8 summarized the concept of that study. Thank you.

9 DR. McMILLAN: Could you define what -- I
10 know this may be difficult -- is considered poor
11 response?

12 DR. BRADFORD: This is Diana Bradford. I'm
13 sorry. I don't have that information on hand --

14 DR. McMILLAN: Sure.

15 DR. BRADFORD: -- but I can certainly find
16 out.

17 DR. McMILLAN: Okay. Thank you.

18 DR. PAPPO: At the time of enrollment of
19 this trial, patients would have not seen or
20 received any kind of GD2 antibody, correct?

21 DR. BRADFORD: This is Diana Bradford again.
22 That is my understanding.

1 DR. PAPPO: Thank you very much.

2 Any other questions? If you have had your
3 question answered, please be sure to put your hand
4 down.

5 We have a question from Dr. Glade Bender.

6 DR. GLADE BENDER: Hi. Julia Glade Bender
7 from Memorial Sloan Kettering. You mentioned that
8 the anti-GD2 naxitamab map was approved on the
9 basis of overall response rate, but this was in a
10 relapsed population.

11 I was just wondering if either
12 representatives from the FDA or the EMA could
13 comment on the context of an upfront study versus a
14 relapsed trial and how endpoints might be viewed
15 differently, depending on where the patient is in
16 their disease trajectory.

17 DR. BRADFORD: Yes. This is Diana Bradford.
18 I think the ability to support a regulatory
19 approval on the basis of overall response rate is a
20 very complex question. It depends on many factors.
21 Oftentimes this is considered in the relapsed or
22 refractory setting, and the preference for upfront

1 therapy would be to demonstrate an improvement on a
2 survival-based endpoint, traditionally.

3 There are situations where in rare diseases,
4 with strong biological rationale based on the
5 mechanism of action of the drug, the molecular
6 defined subset, for example, frontline indications
7 have been granted for some of the targeted agents,
8 based on an overall response rate endpoint
9 supported, of course, by very important information
10 on the duration of responses.

11 I'll turn to Dr. Karres for any of his
12 perspective on this as well.

13 DR. KARRES: Thank you very much. This is
14 Dominik Karres. Indeed, the situation in Europe is
15 similar, that depending obviously on the intended
16 target population -- in the context of alternative
17 treatments, unmet medical needs, et cetera -- a
18 single-arm study based on a primary endpoint of
19 overall response rate, supported through duration
20 of response, has been accepted in the past; that
21 the main issue always relates to the ability to
22 attribute any treatment effect seen to the

1 individual compound under investigation. But
2 indeed, in a frontline setting, an event-driven
3 endpoint such as event-free survival in a
4 randomized fashion would certainly be preferred
5 from a regulatory perspective. Thank you.

6 DR. GLADE BENDER: Thank you. Those
7 comments were very helpful.

8 DR. PAPPO: Does that answer your question,
9 Julia?

10 DR. GLADE BENDER: Yes. I think those were
11 very helpful comments, and my question was
12 answered.

13 DR. PAPPO: Any additional questions?

14 (No response.)

15 DR. PAPPO: Also, Nita, I believe that your
16 hand is still up, so if you want to put it down.

17 (No response.)

18 DR. PAPPO: If there are no additional
19 questions for Dr. Bradford and Karres, we will now
20 proceed with guest speaker presentations, starting
21 with Ms. Leona Knox, and this will be followed by
22 Dr. Navin Pinto and Dr. Maja Beck Popovic.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Guest Speaker Presentation - Leona Knox

MS. KNOX: Thank you, Dr. Pappo, and thank you for the invitation to join this important meeting today. My name is Leona Knox. I'm an advocate and head of research at Solving Kids' Cancer in the UK, and I want to talk about accelerating cure for high-risk neuroblastoma from the perspective of the family.

The reason I am here as an advocate is my beautiful little boy, Oscar, which is a story that is very familiar to many of you. A few short weeks after this photo was taken, Oscar was diagnosed as having high-risk neuroblastoma at 3 years old. The disease was already present in his major organs, and it spread through his bones from his skull to his ankles; so much so that I asked our oncologist, "Is there any point?" and he outlined the treatment path. I did not want to put Oscar through all of that for him to die anyway. What he said was, "It's a challenge, but there's a chance," and from that moment onwards we put everything we had into getting him whatever treatment was needed to save

1 his life.

2 We enrolled Oscar on the SIOPEN HR-NBL1
3 trial, but the disease response after induction was
4 insufficient for him to proceed on the protocol.
5 He had multiple lines of therapy, he experienced
6 severe toxicity, and ultimately we were unable to
7 save him. He died in 2014 at age just 5 and a
8 half.

9 So looking at frontline treatment for
10 high-risk neuroblastoma, it's a dire picture.
11 Before I speak to these statistics, I want to
12 acknowledge that these are only the reality in more
13 affluent countries, and that children and their
14 families in low- and middle-income countries face
15 an even worse fate. But here, despite intensive
16 multimodal therapy, survival rates remain in and
17 around 50 percent, so half of all children
18 diagnosed with this disease will die, and of course
19 those are the children that we track. The reality
20 is that even fewer grow into old age.

21 Neuroblastoma accounts for 10 to 12 percent
22 of deaths from malignancy in childhood, which is a

1 [indiscernible] representation given the incidence
2 rate. Many children experience early disease
3 progression despite the intense induction
4 chemotherapy used to obtain maximal reduction in
5 tumor burden ahead of the consolidation and
6 post-consolidation phases of treatment, which
7 highlights the importance of identifying the most
8 effective initial treatment strategy, and sadly,
9 1 in 5 children do not achieve even a partial
10 response to the current standard-of-care treatment
11 despite the toll it has on their young bodies.

12 So all in all, our children suffer too much
13 and too often without success. More effective
14 treatments are desperately needed from the point of
15 diagnosis.

16 I think it's important to keep in mind, and
17 I know you all do, that during all these
18 conversations about evaluating response, that
19 behind every data point there is untold suffering.
20 Neuroblastoma is an embryonal cancer diagnosed at
21 around 18 months old, and at this age, our children
22 are only starting to venture from our arms. We're

1 learning about their personalities and their social
2 skills are really starting form. So to take a
3 child at this point and have them undergo frequent
4 and prolonged periods of hospitalization, separated
5 from siblings, grandparents, cousins and peers, it
6 has an enormous effect on their development, which
7 we see play out in many different ways.

8 As parents we have to watch our children
9 suffer the most horrendous side effects of
10 treatment. We're watching for every hint that
11 something is not ok, and the fear of not seeing the
12 results when it comes to scans and other tests is
13 all-consuming. We live every single day with the
14 worry that our child will die, and that has a
15 profound effect on us as parents, too.

16 The suffering doesn't end when treatment is
17 completed. The children who do survive, they're
18 facing a long list of potentially severe and
19 life-changing complications, mentally and
20 physically, brought on by the treatment itself.
21 And although relapses aren't common, they do occur,
22 and they devastate families all over again.

1 In taking a step back just for a minute, we
2 know incredible things have been achieved, and many
3 lives have been saved because of the diligent work
4 and sheer determination of those before. But for
5 the most difficult-to-treat cancers, we seem to
6 have reached a plateau, not because of the lack of
7 scientific progress and advances in knowledge, but
8 because of the limitations in bringing those
9 potential breakthroughs to the clinic and into
10 standards of care.

11 More precise risk classification,
12 post-optimization, and intensification of readily
13 available therapies has allowed for incremental
14 improvements in recent years, but to really change
15 things for the children who are not well served by
16 these approaches, we need brave, bold thinking and
17 more agile approaches, which are still designed to
18 protect them.

19 As a parent, the question raised in the
20 Dubois paper really hits home. We do not want our
21 children participating in clinical research that
22 has little relevance, or as soon as it is completed

1 simply because the trials take too long to design,
2 open, accrue, and, evaluate. This is a major
3 challenge in the context of rare diseases. In
4 high-risk neuroblastoma, where the phase 3 trials
5 take an incredibly long time to produce a readout,
6 it seems we are constantly doing [ph] the
7 opportunity for further improvements.

8 Looking at the progress that is being made
9 in terms of clinical research for high-risk
10 neuroblastoma, it's a sobering picture. This is a
11 very primitive search on clinicaltrials.gov and
12 PubMed, but it speaks to the thousands of
13 publications and hundreds of clinical trials which
14 have resulted in just one class of targeted agents
15 being incorporated into frontline therapy for
16 neuroblastoma since the 1980s. I am not
17 disparaging of the work that is being done or the
18 commitment of the people involved at all, but when
19 we look at what the impact is for children
20 diagnosed with this disease, something seems quite
21 wrong.

22 I speak from firsthand experience when I

1 tell you what a shock it is to find out at
2 diagnosis that treatment for this disease is still
3 being defined. It is incredibly unnerving at an
4 already difficult time. We must work together to
5 find ways to do better, and we must do it faster.

6 Dr. Bradford has already covered the
7 Unituxin path to approval, so I won't spend too
8 long on this, but we know this class of agents that
9 was incorporated into frontline care has taken an
10 incredibly long time to get there. This timeline
11 from Bird, et al. clearly demonstrates the
12 challenges involved in developing and evaluating
13 costly new drugs in rare pediatric cancers. We
14 just can't let this be the norm. We need to find
15 ways to move the needle more and leave it much
16 faster.

17 So how can we do this? It's evident that
18 there is a clear need to find ways to assess the
19 efficacy of new drugs more rapidly, but still
20 robustly. The question is no longer if or why; it
21 is how. I think having these conversations openly
22 and having a much more coordinated approach by

1 cooperative groups, industry, regulators, payers,
2 and with patient advocates is a strong start.

3 ACCELERATE has been championing this
4 approach for many years, identifying the problems
5 and actively working to find solutions. Early
6 interaction between all stakeholders is vital, and
7 I think it's highly relevant to point out the
8 willingness of the regulators to participate in
9 early conversations, including Dr. Bradford and
10 Dr. Karres' talks today, and I've heard this
11 discussed many times before.

12 We all want to see effective drugs reach as
13 many children as possible, and even though we are
14 all coming from different angles, we are pulling in
15 the same direction, so let's work on making that
16 even stronger. Of course, it would be a mess to
17 ignore the fact that we need major investment to
18 streamline clinical research in pediatric oncology
19 and to achieve efficiencies that would be crucial
20 in making breakthroughs and making them more
21 quickly. Philanthropic funding is not enough. The
22 scale of what is required is too much for us to be

1 able to deliver what children need.

2 Of course, none of this is easy. If it
3 were, then we would not be here having this
4 conversation and the solutions would already be
5 implemented. The need for scientific robustness
6 and ability to generate data to support regulatory
7 filings which satisfy regulators and payers isn't
8 arguable, but the major challenges of conducting
9 clinical trials in a rare disease such as
10 neuroblastoma across a network of 150-plus
11 institutions must be recognized.

12 Everyone needs to work together to enable
13 researchers to work in an environment that is
14 conducive to progress, ensuring they have all the
15 tools they need to maximize the impact of every
16 study. It is another challenge. Are we gathering
17 all the right data, and for long enough? Are we
18 sharing and comparing it in a cooperative manner,
19 and are we making sense of all of it, not ignoring
20 the importance of quality of life and other
21 considerations even long after clinical trials have
22 ended?

1 I'm focusing more on the specifics of
2 today's topic. How do we evaluate response in the
3 modern era? How do we ensure the validity of
4 historical controls when we have improved imaging
5 techniques and the possibility of liquid biopsies?
6 These things need to be embraced and figured out
7 for the benefit of today's children and future
8 generations, and what is stable disease, and what
9 does it mean in the context of one spot versus
10 15 spots? Of course, there are others, so let's
11 identify them and bring them into the conversation
12 and maintain the ethos that this is difficult, but
13 it's not impossible, and our children need us to
14 figure this out.

15 So what's next? We need to define robust
16 methods of using earlier endpoints. We need RAPID
17 assessment, promising new therapeutic strategies,
18 and we need to work closely with the FDA and the
19 EMA who can help equip the right people with the
20 right tools to gather, analyze, and rapidly report
21 the scientific evidence to move the phase forward
22 as quickly as possible with children who

1 desperately need it, and I'll end there. Thank you
2 very much for your attention.

3 (Pause.)

4 DR. PAPPO: Dr Pinto, we cannot hear you.

5 DR. PINTO: I'm here.

6 **Guest Presentation - Navin Pinto**

7 DR. PINTO: Good morning, everyone. My name
8 is Navin Pinto. I'm a pediatric oncologist at
9 Seattle Children's Hospital. As mentioned in the
10 beginning of the presentation, I have an
11 uncompensated role as a member of the Scientific
12 Advisory Board of Y-Mabs Therapeutics.

13 I won't belabor this, as it's been,
14 discussed extensively, but high-risk neuroblastoma,
15 the topic of our discussion today, is an ultra rare
16 disease that affects less than 500 children per
17 year in the United States, which represents 12 to
18 25 cases per million individuals. Aggressive
19 multimodal therapy is necessary to achieve cure,
20 and relapsed high-risk neuroblastoma is generally
21 fatal.

22 We've talked about the two FDA approved

1 therapies for patients with high-risk neuroblastoma
2 and, again, just a different representation of a
3 topic that has been previously described is that
4 the goal of induction therapy is maximal reduction
5 in tumor burden.

6 So with the combination of multimodal
7 chemotherapy and surgical resection of tumor, the
8 goal is achieving as little disease as possible
9 before moving on to the subsequent phases of the
10 therapy. We've mentioned the painfully slow pace
11 of drug development in ultra-rare diseases, and now
12 this slide has been shown three times to illustrate
13 how slow progress has been in neuroblastoma.

14 As Dr. Bradford mentioned, we are already
15 using surrogate endpoints to make regulatory
16 decisions in high-risk neuroblastoma. Obviously,
17 overall survival is the ultimate measure of effect
18 of a given drug, but given the time to read out for
19 such an endpoint, a surrogate endpoint of
20 event-free survival has been used in the past to
21 make regulatory decisions for high-risk
22 neuroblastoma, most notably, the event-free

1 survival benefit of dinutuximab as
2 post-consolidation maintenance in patients with
3 newly diagnosed high-risk neuroblastoma. But I
4 think the crux of our problem is that oftentimes
5 it's very hard to predict which patients will be
6 failed by our standard or novel therapies, and
7 earlier readouts of benefit are desperately needed.

8 There's been a long-standing recognition
9 that early responses to therapy are often
10 predictive of event-free and overall survival, and
11 multiple investigators have shown that early
12 responses to therapy can predict event-free and
13 overall survival. The most notable publication was
14 done by Greg Yanik and colleagues at the University
15 of Michigan, with collaborators from the Children's
16 Oncology Group, that showed that patients who had
17 an end-induction Curie score, or MIBG score, less
18 than 2 fared much better than patients who had a
19 score greater than 2.

20 This analysis was done in an era where many
21 patients were not receiving tandem myeloablative
22 treatments, and many of these patients did not

1 receive post-consolidation dinutuximab. So in an
2 effort to re-evaluate this in the modern era, I was
3 involved in a retrospective analysis that I'd like
4 to spend some more time describing.

5 We looked at four consecutive trials
6 performed in the Children's Oncology Group for
7 patients with high-risk neuroblastoma conducted in
8 the 2000's, and patients on those trials with at
9 least one response assessment during induction were
10 eligible for this analysis. Importantly, the
11 response criteria were uniform during this period
12 of analysis.

13 The 1993 version of the International
14 Neuroblastoma Response Criteria were used to
15 evaluate response to therapy. The primary outcome
16 of this analysis was to evaluate the partial
17 response rate or better at end induction, and the
18 secondary outcomes were complete response at end
19 induction and progressive disease at end induction,
20 and their impacts on outcome. We evaluated
21 baseline clinical variables like age and stage, as
22 well as available biologic variables for their

1 impact on outcome.

2 So in total, 1315 patients were potentially
3 available for this study; 1280 of those patients
4 had at least one response assessment, so formed the
5 analytic cohort. You can see the breakdown by
6 trial for each of these groups, with the majority
7 of patients coming from the two randomized phase 3
8 studies, A3973 and ANBL 0532.

9 These are the results of that analysis, and
10 similar to Dr. Yanik's presentation looking at
11 Curie score, we saw that patients that had at least
12 a partial response to induction fared much better
13 both in event-free and overall survival compared to
14 patients that had less than a partial response to
15 induction. That effect was also demonstrated in a
16 statistically significant way for patients that had
17 a complete response to end induction versus those
18 patients that had less than a complete response.

19 The conclusion from this section,
20 international neuroblastoma response criteria have
21 been built via an international consensus, but are
22 complex. The INRC requires evaluation of anatomic

1 imaging, functional imaging like MIBG scans, and
2 histologic response elements such as evaluation of
3 the bone marrow compartment for metastatic disease.
4 Using central review of these multiple data points
5 is cumbersome and complex.

6 I've hopefully demonstrated that patients
7 that have a partial response or better to induction
8 chemotherapy tend to have more favorable outcomes,
9 and one can conclude that interventions that
10 improve the end-induction partial response rate
11 will likely also lead to improvements in event-free
12 and overall survival.

13 I'd like to just highlight that we have
14 opportunities to test this hypothesis
15 prospectively. The Children's Oncology Group is
16 currently performing a randomized phase 3 study
17 with the major question of the study occurring
18 during induction, which is a randomized study of
19 patients to receive standard treatment with or
20 without the introduction of MIBG therapy during
21 induction therapy.

22 Also as mentioned by Leona, the pace of

1 development in neuroblastoma proceeds, and
2 oftentimes new data emerges while ongoing phase 3
3 studies are happening. One of the most exciting
4 advances recently in high-risk neuroblastoma is the
5 realization that combining anti-GD2 immunotherapy
6 with chemotherapy leads to remarkable responses,
7 first demonstrated in the relapsed setting, and
8 recently published in the upfront setting by our
9 colleagues at St. Jude Children's Research
10 Hospital.

11 Sara Federico and Wayne Furman published a
12 report showing that by incorporating a humanized
13 anti-GD2 antibody into the induction schema for
14 patients with newly diagnosed high-risk
15 neuroblastoma, a remarkable end-induction response
16 rate was seen with the vast majority of patients
17 having either a complete or partial response to
18 therapy and very few patients having less than a
19 partial response.

20 This is the end-induction results for those
21 patients, and even at an early time point, two
22 cycles into treatment, you can see that many

1 patients had a dramatic reduction in their burden
2 of disease. This translated to a remarkably high
3 event-free and overall survival for patients
4 treated on that study.

5 Obviously, this is a single institution
6 study that needs some additional validation, and
7 the Children's Oncology Group is planning our next
8 phase 3 study, which will be a randomized study,
9 again, asking an induction question. Again, the
10 current planned protocol is to randomize patients
11 to receive either standard induction chemotherapy
12 with cytotoxic chemo and surgery alone versus the
13 incorporation of dinutuximab into the induction
14 chemotherapy regimens, and to evaluate the impact
15 of induction dinutuximab therapy on event-free and
16 overall survival.

17 We will have a readout of end-induction
18 response on this study, so this, again, provides an
19 additional opportunity to evaluate the impact of
20 end-induction response to a novel therapy on
21 overall and event-free survival.

22 In conclusion, we're currently evaluating

1 MIBG therapy incorporated into induction therapy on
2 ANBL 1531. Chemoimmunotherapy with dinutuximab
3 during induction will be studied in our subsequent
4 phase 3 study, ANBL 2131. This allows for a
5 prospective evaluation of novel induction regimens
6 and their impact on event-free and overall
7 survival.

8 I think that if we find that in both studies
9 these interventions lead to better end-induction
10 responses, and those better end-induction responses
11 translate to better event-free and overall
12 survival, we should have the information we need to
13 suggest that this early-response time point can be
14 used as a surrogate biomarker for regulatory
15 decisions, and this hopefully will accelerate the
16 path to approval for novel agents. Thank you for
17 your time and attention.

18 DR. DONOGHUE: Thank you so much, Dr. Pinto.
19 This is Martha Donoghue.

20 **Guest Speaker Presentation - Mara Beck Popovic**

21 DR. BECK POPOVIC: Hello. Good afternoon.
22 My name is Maja Beck Popovic. I'm a pediatric

1 oncologist in Lausanne, Switzerland at University
2 Hospital. It's my pleasure to continue the
3 discussion on end-of-induction response as
4 evaluation in high-risk neuroblastoma patients.

5 Many things have already been said. I will
6 start just here showing my disclosures, and build
7 on the fact that neuroblastoma is a very complex
8 disease. This has been largely slow. Especially
9 in high-risk patients, the needs for therapeutic
10 improvement concerns many parts of the treatments.
11 You have, as my colleagues have shown beforehand,
12 different blocks of treatments. We are today
13 discussing induction treatment mainly, but of
14 course, patients will need also at other time
15 points in their treatment, also in a relapsed
16 setting, improvement in therapeutic approach.

17 Also, to respond to one of the questions
18 that was asked earlier this afternoon, or this
19 morning, is that patients who are treated within a
20 high-risk regimen receive high-risk induction
21 treatment. It has been shown the randomization is
22 currently ongoing in the SIOPEX regimen. Patients

1 who have adequate metastatic response will go on to
2 consolidation, radiotherapy, maintenance, and those
3 who have either a refractory disease, which is
4 defined as insufficient metastatic response based
5 mainly on MIBG, are considered refractory patients
6 and will go in the European setting to the VERITAS
7 protocol that has been described earlier.

8 As I said, we are discussing induction
9 today, but of course we are very much aware that
10 this will not respond to questions further in the
11 treatment and also in the relapsed setting of many
12 high-risk patients.

13 So the question is, whether end-of-induction
14 evaluation is a surrogate endpoint to event-free
15 survival in patients with high-risk neuroblastoma?
16 It certainly is an important time point, but we
17 will, anyway, need event-free and overall survival
18 as a complement to the end-of-induction question.

19 I would like to show or to add to the
20 complexity of neuroblastoma as a disease also the
21 complexity and effort that has been made over many
22 years, over now almost 15-20 years, of an

1 international collaboration in order to develop and
2 define a common language, because this is what we
3 need in this rare disease, is to have a common
4 effort and to talk about the same things when we
5 define disease risk groups and how we evaluate
6 response to treatment. These are criteria that we
7 can, once developed, use in common collaborative
8 studies.

9 I think that most of you who are present
10 here today are aware of the international task
11 force that has been developed, starting at the
12 early years of early 2000, and collecting in a
13 common database clinical and biological data on
14 patients from U.S., from Europe, and from Japan.
15 Currently, there is information of almost 25,000
16 patients in this quite unique and common database.

17 This has allowed progress in the INRG
18 staging system to develop maybe a simplified
19 staging system which is based on pretreatment,
20 imaging-defined risk factors, which allows quite
21 quickly and rapidly to define whether patients can
22 be operated up front, or have a more extended local

1 disease, or are metastatic; and to incorporate the
2 common established criteria for an internationally
3 accepted pretreatment risk group classification;
4 and to incorporate a consensus statement on
5 molecular and radiographic techniques; and also a
6 consensus statement on assessment of minimal
7 residual disease, which allows us today to have a
8 common risk group assignment that takes into
9 account the staging system, age of the patient,
10 histology, biological factors. And when we discuss
11 very low, intermediate, high-risk, and very
12 high-risk patients, to know about what kind of
13 patient category we are talking and evaluating.

14 A parallel effort that has also been
15 mentioned in a former presentation is the
16 international initiative to define response
17 criteria. These response criteria -- the criteria
18 for diagnosis and also for the termination of
19 response to treatment -- started in the '80s and
20 have been reviewed in '93 -- Navin Pinto has shown
21 this in the presentation -- and have in a further
22 effort been modified in 2017 by incorporating

1 modern imaging techniques and incorporating new
2 methods for quantifying bone marrow disease. This
3 has been done over several years by many experts
4 from different countries, in very regular
5 conference calls, to end up in this work that
6 allows, also when evaluating response to treatment,
7 the use of common criteria and to have the same
8 language.

9 This system of evaluating response is
10 complex. I wish to show maybe for people less
11 familiar with neuroblastoma how complex it is
12 because in order to be able to have acceleration in
13 the development, and in incorporating new drugs, we
14 must think of what criteria we are going to use in
15 order to have a simple and efficient tool to
16 evaluate.

17 Now, neuroblastoma is a complex disease, and
18 as it has already been shown at the very beginning
19 from Leona, it can spread over all the body, and
20 many aspects have to be evaluated. So the INRC
21 system in the latest version defines assessment of
22 primary tumor, of soft-tissue metastases, bone

1 metastases, and a new more refined and precise way,
2 bone marrow infiltration in aspirates and trephine
3 biopsies.

4 All this evaluation then serves to evaluate
5 and to define overall response, and to define how
6 complete response should be defined, partial
7 response, minor response, stable disease, and
8 progressive disease. This gives us the opportunity
9 to have a uniform assessment of disease response,
10 to improve interpretability in our common effort,
11 and to facilitate collaborative trial design.

12 Now, what tools are used to evaluate primary
13 and metastatic soft-tissue disease and response?
14 It has already been mentioned by Navin, anatomic
15 imaging, but also, then, functional imaging. We
16 have for the evaluation of metastatic bone disease
17 also MIBG imaging, but then also the type of
18 imaging used to evaluate osseous lesion that has
19 not an involvement of soft tissue, and then a
20 precise description of how to evaluate metastatic
21 bone marrow disease.

22 Now, these tables I show you are not

1 intended to read them all through, but just to show
2 and to illustrate the complexity, and also to show
3 that for the definition of primary and soft-tumor
4 response to treatment, we use always anatomic
5 variation and MIBG or FDG-PET imaging, and to
6 evaluate tumor response at metastatic soft tissue
7 and bone site, the same. When you see the
8 description, this reflects -- I'm sorry. I'm using
9 my pointer from the computer and not the good one.
10 If you look at the details of the evaluation, this
11 illustrates very well the complexity that the
12 disease imposes by itself.

13 Here is the evaluation and definition of
14 minimal marrow disease. We all know that bone
15 marrow infiltration is one of the very big
16 challenges in how to evaluate in what uniform way
17 and how also to organize review if you wish to
18 implement a central review. The combination of all
19 these individual components gives us the tools to
20 define complete remission, partial remission, minor
21 response, stable disease, and progressive disease.

22 We have a stratification elaborated that

1 allows us to define homogenous treatment groups.
2 We have our various risk-group patients and EFS
3 mainly used to modulate treatment. We know that
4 when patients have very good event-free survival,
5 we can reduce treatment intensity a lot. If they
6 have low event-free survival, under 50 percent, we
7 need to intensify treatment. Having these tools
8 that have been developed over many years allows us
9 to have a comparison of risk-based clinical trials
10 conducted in different regions in the world and
11 helps us to develop international collaborative
12 studies.

13 Early-phase trials need also a definition to
14 help how to construct them and how to define them.
15 I would like to take the opportunity here to cite
16 Julie Park's and collaborators' work that has been
17 recently presented and submitted in how to develop
18 criteria for early-phase trials, which are supposed
19 and which are intended to help us in the
20 development and in the acceleration of the
21 development of new treatments in neuroblastoma
22 high-risk patients.

1 The aim is to establish a consensus approach
2 to conduct clinical trials, which needs a precise
3 and better definition of progressive refractory
4 disease to establish a clear definition of
5 eligibility criteria for early-phase trials, the
6 comprehensive extent of disease evaluation at
7 certain time points, and definition of response
8 evaluation, bone marrow being one among the major
9 challenges.

10 My comments and thoughts to today's
11 discussion is that we have, through our
12 international collaboration, developed common tools
13 for risk-group assignment. We have developed
14 common tools for uniform response evaluation. We
15 have developed an international common database
16 with data that can be used for project evaluation
17 and developing research questions, and we have a
18 consensus on a harmonized way of how to conduct
19 early trials.

20 We need to accelerate development of new
21 drugs in patients with neuroblastoma to improve the
22 patient's pathway, and this has been very well

1 explained and shown by Leona at the very beginning.
2 We need to accelerate introduction into frontline
3 treatment, and then standard of care. This needs
4 the close collaboration we have already mentioned,
5 which includes also patient advocates; and in
6 pivotal studies, end of induction is certainly an
7 acceptable endpoint.

8 Not to forget, we will still need to have
9 developments in the relapsed and refractory
10 setting, where safety, pharmacokinetics, and
11 preliminary activity data are still needed. We
12 have throughout all these common efforts set an
13 international collaboration that has also developed
14 tools we can use today to evaluate disease based on
15 a common language.

16 I would like to conclude with saying that
17 end of induction as an endpoint, yes, can be used,
18 and there is certainly a need for acceleration in
19 the upfront setting of high-risk neuroblastoma
20 patients and as an intermediate endpoint which,
21 however, needs to be complemented with event-free
22 survival. We have tools that can be used to

1 evaluate end-of-induction response, and it might be
2 a solution to have simplified INRC maybe using the
3 metastatic response by MIBG score because this has
4 been well documented and published.

5 How shall we do this? By working together,
6 SIOOPEN and COG, hand-in-hand with FDA, EMA, and
7 with patient advocates to agree on the
8 end-of-induction response criteria. What has been
9 done in the past certainly will be helpful for now
10 and for the future. I thank you very much for your
11 attention.

12 DR. PAPPO: Thank you very much for these
13 excellent presentations.

14 Before we move to the clarifying questions
15 section, Dr. Popovic, we were unable to capture
16 fully all of your disclosures in the transcript.
17 Would you mind just reading them again? Sorry for
18 the bother.

19 DR. BECK POPOVIC: Oh, no problem. I'm
20 currently the SIOOPEN president, and SIOOPEN is still
21 receiving royalties for the trials of dinutuximab
22 beta that has been agreed on many years ago. I

1 have been involved twice in discussions with Y-Mabs
2 with any compensation and with any decision.

3 **Clarifying Questions**

4 DR. PAPPO: Thank you very much, and sorry
5 for the bother.

6 We will now take clarifying questions for
7 Ms. Knox and Drs. Pinto and Popovic. Please use
8 the raise-hand icon to indicate that you have a
9 question, and remember to clear the icon after you
10 have asked your question. When acknowledged,
11 please remember to state your name for the record
12 before you speak and direct your questions to a
13 specific presenter, if you can. If you wish for a
14 specific slide to be displayed, please let us know
15 the slide number, if possible.

16 Finally, it would be helpful to acknowledge
17 the end of your question with a thank you and the
18 end of your follow-up question with, "That is all
19 for my questions," so we can move on to the next
20 panel member.

21 We can get started with some questions. I
22 had a quick question for Dr. Pinto.

1 In your analysis of end-of-induction
2 response, did you have any follow-up data on the
3 patients that did not achieve a PR and how they
4 were treated, their ultimate outcome, and if there
5 were any hints or any signal that some subset of
6 patients could be actually retrieved; or there's
7 not enough genomic data or anything to make any
8 conclusions about that?

9 DR. PINTO: Thanks for that question. Yes,
10 I think it highlights one of the biggest challenges
11 in neuroblastoma. I think because there's
12 widespread recognition that less than a partial
13 response is a predictor of poor outcome, many
14 providers are oftentimes seeking additional salvage
15 therapies to try and drive patients into a better
16 end-induction remission, so many patients would
17 come off protocol therapy to receive additional
18 therapies.

19 Now, there is a subset of patients,
20 obviously, that continue on therapy. All of the
21 studies I highlighted would allow the patients even
22 with stable disease to continue on to subsequent

1 therapy, so those patients obviously had less than
2 a partial response. We did capture some of those
3 patients, but many of the patients came off
4 protocol therapy, and then we do not have robust
5 data about what therapies those patients received
6 and how they responded to that therapy. We just
7 have vital information about alive or dead.

8 DR. PAPPO: Thank you very much. That
9 answers my question.

10 Ro Bagatell is next.

11 DR. BAGATELL: Hi. This is Ro Bagatell from
12 the Children's Hospital of Philadelphia. I'd like
13 to thank the speakers for really excellent
14 presentations that have highlighted so many of the
15 complexities of high-risk neuroblastoma therapy.

16 My questions are for Drs. Pinto,
17 Beck Popovic, and possibly Bradford. You've all
18 talked about the very lengthy treatment that is
19 administered to patients with high-risk
20 neuroblastoma and the heterogeneity within the
21 neuroblastoma patient population. I am curious as
22 to your thoughts about how we apply the data from

1 Dr. Yanik's analysis and Dr. Pinto's analysis,
2 end-induction response in the evolving setting with
3 much more lengthy therapy over time; the addition
4 of tandem transplant; the addition of
5 post-consolidation therapy; and even in the era of
6 ALK-directed therapy, a targeted agent throughout
7 the entirety of treatment plus a continuation
8 phase.

9 I'm just interested in how you think about
10 the heterogeneity of the treatments, the length of
11 the treatments, and the heterogeneity of the
12 patient populations as we try to interpret the
13 end-of-induction response data that you've
14 presented.

15 DR. PINTO: I'll take a stab at that. This
16 is Navin Pinto, and Maja, I would appreciate your
17 comments and thoughts as well.

18 Yes. Ro, I think you've highlighted a
19 really big problem. The average course of therapy
20 for a patient with newly diagnosed high-risk
21 neuroblastoma is nearly 18 months of intensive
22 therapy, and then obviously we're waiting for

1 biomarkers, or readouts, like 3-year event-free and
2 overall survival. So I think the highlight of the
3 path to approval for dinutuximab highlights the
4 process from IND to standard readout of event-free
5 or overall survival and how long that process
6 takes.

7 I think this is where the opportunity for
8 something like end-of-induction response may really
9 serve this unmet need and help accelerate
10 approvals. We've now shown, time and time again,
11 that patients that do worse at early points in
12 therapy fare worse, eventually. So I think if we
13 can move the needle earlier in therapy with
14 induction strategies, that can potentially
15 accelerate approval.

16 Now, unfortunately, I think one thing that
17 we're all interested in is not just induction
18 therapy. We're interested in ways to modify our
19 current consolidation regimen to make them more
20 effective and hopefully less toxic, and we're
21 interested in both post-consolidation regimens that
22 can sop up minimal residual disease, and then

1 remission maintenance strategies that can prevent
2 relapses.

3 So again, I think this is not a
4 one-size-fits-all problem. Obviously, we want to
5 encourage innovation in the other phases of
6 high-risk neuroblastoma care, but again, I think we
7 have an opportunity for those interventions that
8 make sense in the induction regimen to have a
9 potentially quicker path to regulatory approval,
10 where there's clearly an impact of those
11 interventions.

12 DR. BECK POPOVIC: Thank you, Navin. Maja
13 Beck Popovic is speaking.

14 Thank you, Ro, for this question. The
15 patient heterogeneity is really a problem, in fact,
16 we are not sure when we evaluate at the end of
17 induction, at the static response, whether, really,
18 we have all the same patients. We say it's a
19 complete remission or partial remission. Is it
20 really the same for all these patients?

21 I think that the development and the
22 implementation of biomarkers as an additional tool

1 to evaluate disease response will be helpful,
2 helpful at the end of induction and probably in
3 other treatment blocks that are as important for
4 further treatment in neuroblastoma patients.

5 I see it personally this way; that this will
6 help us then to identify subgroups or see whether
7 when we evaluate end of induction, with what we
8 have as tools now, if this is really all the same
9 patient population we are evaluating or not. Then
10 of course, if we have biomarker-guided treatments,
11 this might then shorten, or prolong, or modify at
12 various time points for patients their treatment.

13 I don't know if this response answered your
14 question.

15 DR. BAGATELL: Very helpful. Thank you.

16 DR. PAPPO: Does that answer your question,
17 Ro?

18 DR. BAGATELL: Yes. Thank you.

19 DR. PAPPO: Okay.

20 Dr. Ted Laetsch, you're next.

21 DR. LAETSCH: Thank you. This is Ted
22 Laetsch. I just want to thank the speakers for

1 their excellent presentations. The evidence,
2 clearly in my opinion, demonstrates that the
3 end-of-induction response is able to predict EFS.

4 I did have a question for Dr. Pinto. As I
5 consider the next planned COG trial, I just wonder
6 how you think about moving a known active agent,
7 immunotherapy that's part of standard upfront
8 therapy now, from maintenance to induction so that
9 now immunotherapy will be given before the
10 surrogate endpoint rather than after it, and wonder
11 if you think there's a potential for that to
12 improve end-of-induction response by providing more
13 active therapy before that time point, but
14 potentially not impact EFS or OS.

15 DR. PINTO: Again, I think that's where this
16 opportunity exists to truly see if these types of
17 end-induction responses do translate to improved
18 event-free and overall survival. Again, I think if
19 there's enough data in the aggregate to suggest
20 that we can make these decisions before the
21 completion of 2131, that would be fantastic, but
22 2131 will hopefully provide an opportunity.

1 I think that, Ted, you've highlighted that
2 this is an issue where we're using the same drug,
3 both in the induction phase of care and in the
4 post-consolidation maintenance. I don't pretend to
5 understand why the addition of dinutuximab to
6 chemoimmunotherapy has had such a big impact in the
7 relapsed setting, but it clearly has. I think, as
8 Dr. Federico and Furman's recent publication
9 highlights, that seems to also have a very robust
10 signal in the newly diagnosed setting.

11 So I think we're excited about the
12 incorporation of dinutuximab, at least
13 hypothesized, that in the setting of concomitant
14 chemotherapy, the mechanism of action of tumor
15 control may be different. Those are my thoughts
16 about that plan and happy to hear anybody else's
17 thoughts.

18 DR. PAPPO: Ted, does that answer your
19 question?

20 DR. LAETSCH: Yes, it does. Thank you.

21 DR. PAPPO: Ira Dunkel, you're next.

22 DR. DUNKEL: Thank you, Dr. Pappo. Ira

1 Dunkel, Memorial Sloan Kettering. I think my
2 question is primarily for Dr. Pinto, but perhaps
3 others might wish to comment, too.

4 I guess my question is, when you have an
5 intervention that you deem very promising, like the
6 St. Jude earlier use of dinutuximab, I wonder if
7 you could discuss when it's most appropriate and
8 necessary to study it in a phase 3 trial versus in
9 a multicenter phase 2 trial, which obviously has
10 disadvantages but also would increase efficiency
11 using less patients and shortening the trial
12 duration. Thank you.

13 DR. PINTO: Thanks, Dr. Dunkel, for your
14 question. I just wanted to highlight I apologize
15 if I misspoke during my presentation, but the
16 antibody used in the St. Jude trial is not
17 dinutuximab; it is a humanized antibody with an
18 additional mutation to prevent complement fixation,
19 which is the main mediator of pain with anti-GD2
20 antibodies. So it was a novel antibody similar to
21 dinutuximab, but distinct. That is probably the
22 major reason for a confirmatory study with a

1 different, more widely available GD2 antibody.

2 I think the other issue that we need to
3 confirm is I'm a person who was born in Peoria,
4 Illinois, and there's a famous phrase of "Will it
5 play in Peoria?" meaning something that's done at a
6 very esteemed, very well resourced center like
7 St. Jude translate to smaller centers that are
8 still providing care to patients with high-risk
9 neuroblastoma?

10 So I think that the thought of the COG
11 leadership was that the most sound way to do that
12 was in a randomized phase 3 setting.

13 DR. DUNKEL: Thank you very much. I don't
14 think that you misspoke. I think that I misspoke,
15 but thank you for correcting me there.

16 DR. BECK POPOVIC: If I can just add, I can
17 only confirm these needs. We have similar
18 reflections and thoughts also from the SIOPEN view,
19 that we need some -- the combination of induction
20 treatment, that is a little different, the COG
21 induction regimen. We need some safety
22 information, but the aim is then to have it quite

1 quickly, in a randomized way, implemented in
2 induction, so I think I go the same way.

3 DR. PAPP0: If I may add for a couple of
4 minor comments from Dr. Pinto for Dr. Dunkel, a
5 couple of the other differences of this antibody,
6 the St. Jude antibody also was 98 percent
7 humanized, so it's really not chimeric.

8 The other issue is that the level of
9 glycosylation is significantly less than with other
10 antibodies, and we believe that that is important
11 to increase ADCC, which is one of the main
12 mechanisms for this antibody to work, so there are
13 some minor differences there.

14 Your question was answered, Ira.

15 I'm going to go to Dr. Kraus now.

16 DR. KRAUS: Yes, and thank you very much for
17 all these presentations. They're extremely
18 helpful. I was impressed by the data presented
19 particularly by Dr. Pinto and the relation of
20 greater than PR, CR, event-free, and overall
21 survival. On the research and development level,
22 the Kaplan-Meier curves are showing phenomenal

1 differences, and we rarely see Kaps that wide, so
2 this is very valuable and important.

3 The interesting thing I saw, it looks like a
4 wider gap with the greater than PR than a CR, which
5 may or may not be something you would predict.
6 Maybe you would; I don't know. But I wanted you to
7 comment on it and ask if you'd dug into duration of
8 response, and if that's at play here. But all in
9 all, I think this is very informative, important
10 data on a large patient group. Thank you.

11 DR. PINTO: Great, and thank you for that
12 question.

13 DR. KRAUS: Yes.

14 DR. PINTO: This is Navin Pinto. I think
15 I'll try and tackle the duration of response
16 question first because I think, again, it
17 highlights another very difficult question in
18 neuroblastoma.

19 So oftentimes, patients with residual
20 disease or persistent disease will not settle for
21 that, and oftentimes will cycle between multiple
22 salvage and experimental therapies in order to try

1 and achieve a remission. So really, the duration
2 of a complete response is probably well known, but
3 in patients that have partial responses, this is a
4 very difficult question to answer.

5 I think with the emergence of remission
6 maintenance strategies like a GD2 vaccine that's
7 being developed by, first, Memorial Sloan
8 Kettering, and now Y-Mabs Therapeutics, and a
9 remission maintenance drug, DFMO, being
10 investigated by the Beat Childhood Cancer
11 Consortium in the United States, that makes the
12 challenge even harder, even in patients with a
13 complete remission, so duration and response is a
14 really difficult question to tackle.

15 I think the point that you highlighted, it
16 would be easy to say -- I don't think we would be
17 even having this meeting if the CR curves at end
18 induction were flat at hundred percent or higher
19 than they are. So it's clear that even at end
20 induction, patients that have a remarkable
21 response, with the combination of chemotherapy and
22 surgery mostly, some of those patients do,

1 unfortunately, go on to relapse and die of their
2 disease. So it's not a perfect biomarker and does
3 highlight the need for additional strategies in
4 consolidation and post-consolidation maintenance.
5 But again, I agree with you that it is a relatively
6 powerful biomarker of overall response.

7 DR. KRAUS: Thank you. That's very helpful.
8 Appreciate it.

9 DR. PAPP0: Dr. Donoghue, you have a
10 comment?

11 DR. DONOGHUE: Thank you, Dr. Pappo. I
12 actually have a couple of questions if that's ok.
13 I want to thank, first, the presenters for their
14 really informative and helpful presentations, and
15 my first question is for Dr. Pinto.

16 Thank you so much for presenting, at a high
17 level, the analysis of data from several COG
18 trials, looking at that correlation between
19 end-of-induction response and EFS and overall
20 survival. My question just relates to
21 whether -- and I apologize if you touched upon this
22 and I missed it -- those analyses at all controlled

1 for other factors that could be predictive of
2 patient outcome such as N-Myc status, age,
3 et cetera, and whether those analyses showed
4 similar results looking at that association between
5 end-of-induction response and EFS and OS.

6 DR. PINTO: Thank you. That's an excellent
7 question. I did not highlight that during this
8 talk, but it is highlighted in the manuscript for
9 others' reference. But briefly, we did look at
10 clinical and biologic factors that were known for
11 this group of patients, clinical factors like age
12 at diagnosis and clinical stage using the previous
13 staging system; as well as, for many of the
14 patients, biologic information like amplification
15 of the MYCN proto-oncogene, and for us, a smaller
16 subset of patients, segmental chromosomal
17 aberrations, which have been demonstrated by the
18 SIOPEN group to be predictive of outcome.

19 In summary, the only biologic factor that
20 survived a multivariable analysis as predicting
21 end-induction response was the presence of an 11q
22 segmental chromosomal aberration. 11q loss in

1 neuroblastoma has been a long-standing biologic
2 factor associated with poor prognosis both in
3 non-high-risk neuroblastoma and in high-risk
4 neuroblastoma, so this was the only biologic factor
5 that survived that multivariable analysis.

6 DR. DONOGHUE: Thank you, Dr. Pinto. That
7 addressed my question.

8 I have one additional question, and this is
9 for both Dr. Pinto and Dr. Beck Popovic, related to
10 the SIOPEN trial and the planned ANBL 2131 trial.
11 I just wanted to make sure my understanding is
12 correct that with the ANBL 2131 trial, the plan is
13 for patients who have progressive disease during
14 induction to then switch to extended induction, so
15 peel away a bit from the main trial, versus with
16 the SIOPEN trial, that patients who have an
17 inadequate response to induction therapy would be
18 eligible for the VERITAS trial to go on to receive
19 131 MIBG.

20 I just wanted to check and see if that is
21 correct, and whether there is a difference between
22 those trials in terms of how response to induction

1 is being assessed and deemed inadequate or
2 adequate.

3 DR. PINTO: Yes. I'll start with the
4 COG 2131 plan. This trial is still in development,
5 but the current proposal is that, as you mentioned,
6 patients with progressive disease during induction
7 have historically come off protocol therapy and not
8 been well captured by current COG protocols, but in
9 addition, patients with a poor end-induction
10 response, which is in defined in the protocol,
11 those are patients largely with persistent
12 metastatic disease at end induction and will be
13 eligible for an extended induction phase of
14 chemoimmunotherapy with the hopes to capture as
15 many of these poor end-induction responders as
16 possible and to get a better understanding of some
17 of the questions that other panelists have raised
18 now.

19 That's the COG perspective, and Maja can
20 provide insight on SIOOPEN.

21 DR. BECK POPOVIC: Yes, thank you. From the
22 SIOOPEN perspective, end of induction, poor

1 metastatic response means more than 3 MIBG spots
2 still active, and there are some additional factors
3 for bone marrow evaluation.

4 These patients currently can go on to the
5 VERITAS protocol, which starts by 3 courses of
6 irinotecan and temozol as re-induction, and then a
7 randomization to receive a double transplant. On
8 one hand, it is MIBG with topotecan followed by
9 BuMel, and on the other, high-dose thiotepa
10 followed by BuMel. These patients, then, if they
11 respond well to these treatments, will go on
12 further to surgery, local radiotherapy, and
13 maintenance. This is the current setting for
14 high-risk patients that have insufficient
15 metastatic response at end of induction.

16 DR. DONOGHUE: Thank you so much. That
17 answers my questions. I appreciate it.

18 DR. BECK POPOVIC: Thank you.

19 DR. PAPP0: Dr. Reaman has a question.

20 DR. REAMAN: Thanks, Alberto.

21 I guess this is primarily for Dr. Beck
22 Popovic. You mentioned, I think, the difficulty

1 with respect to assessment of response as it
2 relates to bone marrow disease.

3 Can you just provide a little bit more
4 detail with respect to how central review for
5 response assessment for marrow disease might be
6 accomplished, should be accomplished, could be
7 accomplished, within the context of a multisite,
8 even multicenter, study?

9 DR. BECK POPOVIC: Yes. Thank you very
10 much.

11 (Crosstalk.0

12 DR. REAMAN: And whether you think that's
13 necessary? Sorry.

14 DR. BECK POPOVIC: Yes. Thank you very much
15 for your question. I think that this is feasible.
16 It can be organized when it's planned,
17 prospectively. We have been suffering in the past
18 from the fact that the bone marrow evaluation is
19 done in laboratories that are acknowledged as
20 experts in the field, but it was not planned for
21 regulatory issues at the end, and this needs
22 another organization.

1 I mentioned just the difficulties because it
2 has also needed quite a lot of work to agree on how
3 bone marrow shall be evaluated exactly and the
4 response to it. In a prospective setting, this is
5 feasible because in a prospective setting, if the
6 criteria that have been developed are implemented,
7 then it's a question of putting the labs together
8 and organize a central review.

9 In the past, in our European studies, not
10 having planned beforehand against the regulatory
11 aspects, this review would have been a problem,
12 whereas MIBG response has very early been
13 implemented as one of the main factors and with a
14 uniform scoring system, which has proven quite
15 efficient also in the evaluation. So my wish was
16 not to say that it is not possible, but it might be
17 more complex. But when it is planned in advance,
18 I'm sure that this can be done.

19 DR. REAMAN: Thank you. And I didn't mean
20 to imply that you said that it was not possible or
21 feasible. I was just wondering how important, and
22 what are the plans to accomplish this.

1 Currently, to sort of follow up on your
2 response, are you planning that marrow assessment
3 include just histologic examination or using
4 specific immunohistochemical techniques, or
5 molecular --

6 DR. BECK POPOVIC: Yes.

7 DR. REAMAN: -- techniques, and all of the
8 above?

9 DR. BECK POPOVIC: So there is histology,
10 but there is immunocytology, there is also
11 immunohistochemistry, and there is also RT-PCR
12 technique, which is not yet validated as such. So
13 it is not histology only. For histology, however,
14 in the trephine biopsies, the limit of 5 percent
15 has been set, which means that minor presence of
16 cells is something that is acceptable and can be
17 considered as negative.

18 DR. REAMAN: Thank you. Then just for
19 clarification, is this something that may come a
20 part of the INRC requirements for evaluations --

21 DR. BECK POPOVIC: Yes, it is part of the
22 INRC requirements. It's in one of the tables I've

1 shown, yes.

2 DR. REAMAN: Okay. Thank you very much.

3 Then just one other quick question for both
4 Drs. Pinto and Beck Popovic; the absence of a
5 complete response covers a broad group of patients.
6 Are there any indicators that lack of response in
7 one area -- be it primary tumor site, visceral
8 metastases, bone metastases, bone marrow -- that
9 there may be prognostic significance to a specific
10 area or specific disease site where there is lack
11 of response, complete response?

12 DR. BECK POPOVIC: I think there are good
13 indications that really a lack of response in bone
14 is one of the major bad prognostic factors.

15 DR. REAMAN: Okay. Thank you.

16 Was this something that was evaluated,
17 Dr. Pinto, in your analysis of patients who did not
18 have a complete response at end-of-induction
19 therapy?

20 DR. PINTO: Unfortunately, we didn't have as
21 detailed of information. We had an overall
22 assessment of response using the INRC criteria, but

1 the individual elements of response were not
2 available for this analysis, and I think again
3 highlights that as we've built a complex response,
4 criteria, deconvoluting that to assess its impact
5 can be difficult.

6 DR. REAMAN: Okay. Thank you. That answers
7 my questions. Thank you.

8 DR. PAPPO: Dr. Seibel, DO you have a
9 question?

10 DR. SEIBEL: Yes. Nita Seibel from NCI, and
11 this is for Dr. Popovic.

12 For the patients who would go on VERITAS who
13 are MIBG non-avid, how will they be treated?

14 DR. BECK POPOVIC: Thank you for your
15 question. Patients who are MIBG non-avid are
16 evaluated by FDG-PET, so then the criteria will be
17 used the same, but it's not MIBG if they are not
18 avid.

19 DR. SEIBEL: And then they will be
20 non-randomly assigned to the arm that doesn't
21 include MIBG for the VERITAS trial?

22 DR. BECK POPOVIC: This is a very good

1 question. I suppose, yes, but I have to look up in
2 the protocol, but this makes, of course, sense that
3 they could not be then treated by MIBG, yes.

4 DR. SEIBEL: Okay. Thank you. That answers
5 my question.

6 DR. PAPPO: We still have a few minutes for
7 additional questions before we break for lunch, so
8 I will give you a minute or so to raise your hand.

9 (No response.)

10 DR. PAPPO: I don't see any additional,
11 hands. I want to thank all the presenters for
12 their outstanding presentations and the panel for
13 being so interactive.

14 Since there are no additional questions, we
15 will now break for lunch. We will reconvene at
16 1:00 p.m. Eastern Standard Time. Panel members,
17 please remember that there should be no chatting or
18 discussion of the meeting topic with anyone during
19 the break. Additionally, you should plan to rejoin
20 at around 12:50 p.m. to ensure you are connected
21 before we reconvene at 1:00 p.m. Thank you very
22 much, and enjoy your break or your lunch.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

(Whereupon, at 11:58 a.m., a lunch recess
was taken.)

1 A F T E R N O O N S E S S I O N

2 (1:00 p.m.)

3 DR. PAPP0: Welcome back to an afternoon
4 session. We will now proceed with a speaker and
5 FDA presentation from Drs. Lisa McShane, followed
6 by Dr. Anup Amatya.

7 Dr. McShane?

8 **FDA Presentation - Lisa McShane**

9 DR. McSHANE: Thanks very much.

10 Good afternoon, everyone. I am pleased to
11 be here to share some thoughts from a
12 statistician's perspective about how we might use
13 early endpoints to support drug development in
14 high-risk neuroblastoma. Early endpoints can serve
15 in many roles, and I will discuss what evidence is
16 required to support the various uses.

17 Here are my disclosures. I have none. I do
18 want to emphasize that I am not employed by FDA; I
19 work for NIH. There will be a speaker following
20 me, Dr. Amatya, who will be providing a more
21 in-depth discussion of regulatory considerations
22 for use of early endpoints. My talk will be a

1 little more on the conceptual side.

2 A good starting point is to make sure that
3 we're speaking the same language. For this I turn
4 to the BEST resource. BEST stands for Biomarkers,
5 Endpoints, and Other Tools. It's a resource that
6 was developed by a working group charged by the
7 FDA-NIH Joint Leadership Council to develop a
8 glossary of harmonized terminology for biomarkers,
9 endpoints, and other tools useful in medical
10 product development or regulated product
11 evaluation. It contains clear definitions of
12 useful terminology and many explanatory examples,
13 so I want to make you aware of this and encourage
14 you to take a look at the website.

15 For purposes of today's talk, my focus is on
16 end-of-induction response, which would fall into
17 the category of response biomarker in the BEST
18 glossary. This is the definition of response
19 biomarker from the BEST glossary. We divide this
20 category into two main groups, the first being
21 pharmacodynamic biomarker, which indicates biologic
22 activity of a medical product or environmental

1 agent without necessarily drawing conclusions about
2 efficacy, or clinical outcome, or even linking the
3 activity to an established mechanism of action.

4 What might be a more familiar term, or a
5 popular term but a distinct entity, is "surrogate
6 endpoint biomarker." It is an endpoint that is
7 used in clinical trials as a substitute for a
8 direct measure of how a patient feels, functions,
9 or survives.

10 Too often, people prematurely take the leap
11 from an early-response biomarker to surrogate
12 without having evidence to establish that it can be
13 used reliably as a substitute, and I underscore the
14 word "substitute" for a definitive clinical
15 endpoint.

16 We'll touch on evidence for surrogacy later
17 in this talk, and Dr. Amatya will also talk more
18 about it. But importantly, there are still things
19 that an early endpoint can be useful for without it
20 meeting the very rigorous requirements for
21 surrogacy, so I'll start with some of those easier
22 topics first.

1 We already use many response biomarkers in
2 drug development programs. Within the class of
3 pharmacodynamic biomarkers, we might use early
4 endpoints to enrich for patients for whom a
5 modified treatment strategy may be evaluated. For
6 this role, we want a biomarker that is measured
7 after some initial course of therapy and is
8 prognostic for subsequent outcome; in other words,
9 it's a correlative long-term clinical outcome, and
10 I'll talk about the rationale for enrichment in a
11 minute.

12 Early endpoints may also be used to drop
13 drugs early in the development process. For
14 example, many phase 2 trials for solid tumors use
15 tumor response as the primary endpoint. If a drug
16 can't produce tumor shrinkage, chances that it will
17 improve survival are greatly diminished; therefore,
18 poor performance on tumor response might lead
19 researchers to not continue on to a phase 3 trial,
20 or in a multiarm trial, certain treatment arms
21 might be dropped early for poor performance on an
22 early endpoint. Similarly, in an adaptive

1 phase 2/3 clinical trial, we might not proceed to
2 the phase 3 stage.

3 Use of an early endpoint for enrichment can
4 be a very efficient strategy for drug development.
5 The regulatory definition of enrichment from the
6 FDA guidance document on this topic is shown here.
7 Enrichment refers to prospective use of any patient
8 characteristic to select a study population in
9 which detection of a drug effect, if one is in fact
10 present, is more likely than it would be in an
11 unselected population.

12 It might be used to reduce inter- or
13 intra-patient heterogeneity or to enrich for
14 patients in a certain prognostic category. This
15 might be a poor prognosis subgroup for which we
16 expect more events, thus increasing statistical
17 power for detecting treatment effects, or it might
18 be a good prognosis subgroup for which treatment
19 de-escalation might be considered.

20 Finally, there is predictive enrichment in
21 which we select patients based on some biological
22 evidence that they are more likely to respond to

1 the investigational intervention under study; for
2 example, and a classic example in oncology would be
3 a somatic mutation targeted by a small-molecule
4 inhibitor or antibody therapy. The type of
5 enrichment I will focus on today is prognostic
6 enrichment.

7 Shown here is demonstration of the
8 prognostic ability of end-of-induction response for
9 event-free survival and overall survival in a study
10 including 1280 patients across four high-risk
11 neuroblastoma trials. You heard about this trial
12 already from Dr. Pinto.

13 As shown in panels A and B, responders have
14 longer event-free survival than non-responders,
15 whether we define responders as PR or better or as
16 CR or better, according to the 1993 response
17 criteria used here. The same holds for the overall
18 survival endpoint. All of these associations were
19 statistically significant. You also heard this
20 morning that these held up even after adjustment
21 for other clinical covariants.

22 Another study looked for similar

1 associations of end-of-induction response with
2 event-free survival and overall survival using the
3 2017 response criteria. Here, response was defined
4 as minor response or better, according to the
5 definition that you see in the table at left.

6 The associations did not quite achieve
7 statistical significance for event-free survival
8 but did for overall survival. However, it's really
9 important to recognize the extremely small sample
10 sizes in this study. There were only a handful of
11 non-responders. These findings are certainly
12 interesting, and we hope they pan out, but they
13 would need confirmation in a larger study.

14 If you buy into the idea of end-of-induction
15 response being prognostic, how can we use that in
16 an enrichment strategy? As this diagram shows,
17 what one might typically do is use the early
18 endpoint to separate patients into two groups.
19 Those who respond by end of induction perhaps go
20 off study to get usual care. Those who do not have
21 response by end of induction are randomized often
22 to standard of care versus an experimental therapy.

1 There are two reasons why we might want to
2 randomize this particular group, namely the
3 non-responder group. I mentioned before the event
4 rate will be higher in this group, and that
5 translates into higher statistical power for
6 treatment comparisons. This group might also be
7 seen as the one most urgently in need of better
8 treatments.

9 For sake of completeness, we could also
10 think about a predictive enrichment strategy if
11 when looking at these two groups divided by
12 end-of-induction response outcome we can identify
13 biomarkers that distinguish these groups and are
14 actionable in the sense of having match targeted
15 therapies. Again, I won't have time to go into
16 predictive biomarkers, but I just want to remind
17 you that that is another option.

18 As I mentioned, this prognostic enrichment
19 strategy has been a successful drug development
20 strategy for many pediatric cancers, but I want to
21 be clear that we should not take it for granted
22 that intensifying therapy in the higher risk,

1 non-responder group will always lead to a better
2 outcome.

3 The very high-risk cohort of the B-ALL
4 trial, AALL1131, provides an example of how one
5 might attempt to acquire evidence to support
6 clinical benefit of intensifying therapy in a
7 biomarker-defined subgroup that has worse outcome
8 based on an intermediate endpoint.

9 On the right are the criteria for
10 eligibility for randomization in the very high-risk
11 cohort with eligibility criteria, including day 29
12 bone marrow MRD greater than or equal to 0.01
13 percent; or induction failure, meaning greater than
14 25 percent blasts in the bone marrow; or M3 on
15 day 29.

16 The randomization compared a control therapy
17 with two different levels of intensified therapy
18 after a standard 4-drug induction regimen. The
19 CONSORT diagram on the left shows the number
20 accrued to the 3 arms, and patients were randomized
21 1 to 2 to 2 between February 27, 2012 and
22 September 13, 2012.

1 On the left are details of the three
2 treatment arms in the randomized very high-risk
3 cohort. Arm 2 delivered the most intensive
4 therapy, adding cyclophosphamide, etoposide, and
5 clofarabine during the second half of consolidation
6 and delayed intensification. Unfortunately, as the
7 2018 cancer paper by Saltzer reports, the
8 experimental arm 2 was stopped early due to excess
9 toxicity, particularly grade 4-5 infections and
10 pancreatitis. The trial continued with only the
11 control and experimental arm 1 using a 1 to 2
12 randomization.

13 Unfortunately, the news did not get much
14 better over time. In February 2017, experimental
15 arm 1 was closed for futility with a hazard ratio
16 of 0.606 favoring the control arm. With additional
17 follow-up in December of 2017, the evidence was
18 even stronger that the experimental arm was not
19 superior to control, with a difference of 4-year
20 disease-free survival of 85.5 percent for control
21 versus 72.3 percent for experimental arm 1.

22 Another thing I want to point out here is

1 that the 4-year disease-free survival of
2 85.5 percent reported for the control arm was quite
3 a bit higher than the 70 percent originally
4 predicted, based on data available for patients
5 with very high-risk features treated in the
6 preceding B-ALL studies, and even the experimental
7 arm disease-free survival rate was numerically
8 slightly higher. This serves as a reminder of the
9 need for randomized-controlled trials.

10 Now a few words on the other uses of
11 end-of-induction response that fall into the
12 category of pharmacodynamic biomarker; there are
13 several points to consider when using
14 end-of-induction response as an early endpoint to
15 assess drug activity, which, keep in mind, might
16 not necessarily translate to efficacy.

17 The prime consideration here is that we want
18 the early endpoint to be good at ruling out drugs
19 that have minimal activity and little chance of
20 improving more definitive clinical outcomes, while
21 not prematurely discarding too many good drugs.
22 The suitability of an endpoint for this purpose may

1 depend on the drug class. For example, in solid
2 tumors, we don't necessarily expect a cytostatic
3 drug to shrink tumors very much.

4 The other use I have listed here is a little
5 bit more tricky. When we use an early endpoint for
6 selection among drugs -- for example, in
7 head-to-head comparisons of drugs in a phase 2
8 trial for decisions about moving drugs from phase 2
9 into phase 3 -- we're hoping that the endpoint can
10 at least predict large differences in efficacy or
11 has reasonable ability to rank drugs for efficacy
12 with respect to longer term definitive clinical
13 endpoint. There's a lot of trickiness here, as I
14 mentioned, and I think that will become apparent as
15 I talk a little bit more about surrogate endpoints.

16 I just mentioned that using early endpoints
17 for this preliminary selection can be tricky, and
18 it can be tempting to actually replace a more
19 definitive long-term endpoint with an early
20 endpoint, and not have to measure the definitive
21 endpoint at all, which might take a lot longer time
22 and require more resources. So there's a lot of

1 attractiveness to having this replacement endpoint.

2 This really brings us to the idea of a
3 surrogate endpoint. People often will use the term
4 "surrogate" without being very rigorous about what
5 they mean or what conditions might be required to
6 use something as an honest-to-goodness surrogate as
7 a replacement endpoint, so I'll briefly introduce
8 some of the key concepts relevant to surrogate
9 endpoint in my last few minutes, but in the next
10 talk, Dr. Amatya will give you a more detailed
11 regulatory perspective.

12 The discussion often starts with the famous
13 Prentice criteria, which states, "idealized
14 conditions for a surrogate." The treatment has an
15 effect on the true or definitive endpoint, for
16 example, survival; the treatment has an effect on
17 the surrogate; the surrogate is associated with or
18 prognostic for the true or definitive clinical
19 outcome; and the surrogate must fully capture the
20 net effect of treatment on the true clinical
21 outcome.

22 The problem is that this rarely holds,

1 especially the last point. Even for one treatment,
2 and much less for multiple treatments, one might
3 wish to compare. So while this is a conceptually
4 appealing set of requirements, these conditions are
5 generally impractical to meet.

6 The visual representation of the Prentice
7 criteria seen in this diagram, basically all of the
8 action of the treatment is thought to happen on a
9 direct pathway through the surrogate endpoint to
10 the definitive endpoint. An early endpoint with
11 properties displayed here would be both a good
12 prognostic indicator and a good surrogate endpoint,
13 at least for the particular treatment. Most
14 difficulties arise when we're trying to compare two
15 or more treatments because we don't know if the
16 mechanisms of all drugs lie on exactly this same
17 pathway.

18 At this point, we need to get a little more
19 granular. There's some confusing terminology out
20 there that we need to straighten out. We need to
21 distinguish between the notions of individual-level
22 surrogacy and trial-level surrogacy. An

1 individual-level surrogate is an endpoint or
2 variable that is a correlate or prognostic for the
3 true clinical endpoint within the context of
4 specified treatments and patient population. This
5 may be demonstrated, in fact, even in the context
6 of a single cohort or clinical trial, but as
7 Fleming and DeMets caution in their landmark paper,
8 "A correlate does not a surrogate make."

9 So what else do we need to be able to use it
10 truly as a replacement endpoint? What we need is
11 to establish that it's what I would refer to as a
12 trial-level surrogate, and that's an endpoint or a
13 variable that can replace the true clinical
14 endpoint. That's a very big hurdle to clear;
15 actually replace the definitive endpoints.

16 What does it take? Well, demonstration of
17 trial-level surrogacy generally requires a
18 meta-analysis of clinical trials to show that a
19 conclusion about treatment effect, based on the
20 surrogate, reliably predicts the conclusion
21 obtained using the true endpoint, and this has to
22 hold across trials because, remember, the whole

1 point of having a surrogate is that you'd like to
2 conduct a new trial and not have to measure the
3 definitive endpoint. If you could just measure the
4 surrogate, that could save us lots of time and
5 resources.

6 Here are some examples that illustrate why
7 prognostic ability of an early endpoint does not
8 guarantee trial-level surrogacy. I know that may
9 seem very counterintuitive. You think, well, if
10 you have an early endpoint that is highly
11 prognostic for the endpoint, and you can improve
12 the outcome on that candidate surrogate marker, how
13 could it possibly be that that would not correctly
14 predict the result on the definitive endpoint?

15 Well, here's an attempt in explaining that
16 concept, and if you get nothing else from this
17 talk, I hope you will understand the examples that
18 I have on this slide.

19 So let's suppose that with some baseline
20 therapy, 20 percent of patients will meet the early
21 endpoint and 80 percent will not, and at meeting
22 that endpoint is a favorable prognostic indicator

1 for the definitive endpoint of event-free survival.
2 So here we might be tempted to say that treatment A
3 is superior to treatment B because it results in
4 more patients achieving the favorable endpoint. So
5 if you compare the green bars there for treatment A
6 versus treatment B, you see that treatment A
7 results in 60 percent, meaning this favorable
8 endpoint, and treatment B achieves only 40 percent.

9 But there is a potential flaw in the logic
10 as illustrated by the rows of this table. That
11 logic assumes that the responders under treatment A
12 will behave the same as the responders under
13 treatment B with respect to event-free survival.
14 If that is the case, then treatment A will show
15 superiority like scenario 1 in the table. But
16 there's no reason that this has to be the case.
17 The two treatments might have effects other than
18 through the early endpoint. Those effects could be
19 different and translate to different impact on
20 event-free survival.

21 So if you look at scenarios 2 through 4, you
22 can see how the early endpoint could remain

1 prognostic across the board, but the effect on
2 event-free survival, comparing treatment A to B, as
3 shown in the last column highlighted in orange,
4 could go either direction. I could conclude that
5 either treatment A is better or B is better, just
6 depending on how that relationship between the
7 intermediate endpoint and the definitive endpoint
8 plays out for the two different treatments.

9 Dr. Amatya will talk more about the points
10 on this slide, but I do want to remind everyone
11 that you need to think carefully about how you
12 conduct a trial-level meta-analysis to empirically
13 validate an endpoint as a surrogate. You need to
14 specify, first of all, what is the clinical benefit
15 measure of interest? What is the endpoint that you
16 want to replace, and how are you quantifying the
17 treatment effect on that endpoint?

18 I would remind people that when you're using
19 time-to-event endpoints, generally a randomized
20 trial will be required unless you're dealing with a
21 very, very good risk group.

22 The method of measuring the surrogate is

1 important, what we've heard today about two
2 different versions of the end-of-induction response
3 criteria that have changed from '93 to 2017. The
4 class of drug could be very important. As I
5 mentioned, the mechanism of drug may be very
6 different, and can really lead you astray in you're
7 thinking. The patient population may be very
8 important, and whether there are biologically
9 defined tumor subtypes for which the drug might
10 behave differently. Remember that extrapolation to
11 a new class of drugs or patient population not
12 covered by the meta-analysis can be risky, so think
13 carefully about how you set it up.

14 Here's an example of a trial-level
15 meta-analysis conducted for another pediatric
16 cancer. This study looked at end-of-induction
17 minimal residual disease as a candidate trial-level
18 surrogate for event-free survival in B-ALL. The
19 analysis included 4830 patients from two large
20 randomized phase 3 trials that were asking a
21 question about different corticosteroids,
22 specifically dexamethasone versus prednisone,

1 during induction therapy.

2 MRD was assessed as a 3-level variable:
3 negative, low-positive, or positive, as you can see
4 defined at left. In the COG trial, patients were
5 also randomized to receive either Capizzi or
6 high-dose methotrexate regimens, in addition to the
7 corticosteroid randomization, in a 2x2 factorial
8 design.

9 The figure to the right shows event-free
10 survival curves by treatment within minimal
11 residual disease categories for the following
12 patient groups: A is for the overall group; B in
13 the European trial; C in the high-dose methotrexate
14 COG group; and D in the Capizzi group. So there's
15 a very strong prognostic effect of end-of-induction
16 response that's clearly evident from these plots.

17 But in order to conduct a trial-level
18 meta-analysis, centers within each trial were
19 grouped according to geographic region to define
20 many trial units. Ideally in a meta-analysis you
21 would like many different trials, and people often
22 recommend at least 10, but there just weren't that

1 many in this particular disease area.

2 The groupings also accounted for
3 chemotherapy regimen. Within each trial unit an
4 odds ratio for treatment effect on MRD and a hazard
5 ratio for treatment effect on event-free survival
6 were calculated, and these were plotted as you see
7 on the right. Each point corresponded to a trial
8 unit, number labels on points refer to sample size,
9 and shading indicates the chemotherapy regimen.

10 The association between treatment effect on
11 MRD, which is the X-axis, and the treatment effect
12 on event-free survival, which is on the Y-axis, was
13 poor, yielding an R squared of 0.09. So MRD was
14 not validated as a trial-level surrogate for
15 event-free survival in this example.

16 There are many reasons why an early endpoint
17 might fail to validate as a trial-level surrogate.
18 First, the early endpoint maybe is not capturing
19 the relevant biology, and there was a comment made
20 this morning about looking in the different disease
21 compartments. There are many components to the
22 end-of-induction response criteria. So maybe it's

1 important to be looking in the bone marrow, or in
2 other metastatic sites, and not just other more
3 local measures of disease. I often felt that the
4 reason many of these kinds of response criteria
5 don't work is many of them are looking at the
6 primary tumor site, and the tumor cells that tend
7 to be the bad actors and harm the patient are the
8 ones that metastasize.

9 So not measuring early endpoint in the best
10 way or the right time is another possibility. In
11 general, the closer the measurement of the
12 surrogate is, or the candidate surrogate is, to the
13 definitive endpoint, the greater the chance it's
14 going to be a good surrogate because lots of things
15 can happen in between; for example, effects of
16 therapies delivered after measurement of the early
17 endpoint, and especially if those therapies are
18 chosen based on observation of the early endpoint.

19 I already mentioned the value of early
20 endpoint could depend on biological subtypes of
21 tumors. We need to potentially restrict to a
22 particular class of therapeutic inventions. Maybe

1 it works differently for targeted versus
2 non-targeted, et cetera. And we could simply just
3 not have enough trials, or the trials are too
4 small, or we don't have enough range of treatment
5 effect; lots and lots of reasons that I'm sure
6 Dr. Amatya will expand upon.

7 In conclusion, I think we have to really sit
8 down and carefully define the intended role for the
9 early endpoint. People too often start talking
10 about surrogates. There are many other ways
11 earlier endpoints can be used in a productive way
12 in drug development program, so make sure you know
13 where you're aiming before you shoot.

14 Plan ahead to collect the right evidence to
15 support that intended role, and this may require
16 harmonizing measurements of the early endpoints;
17 identifying sufficient number of trials in the
18 relevant patient populations with the right drugs,
19 et cetera. Surrogacy analyses typically need
20 randomized trials with early endpoints measured
21 after delivery of treatments of interest. There
22 have been many other efforts in adult cancers where

1 people have tried to do meta-analyses looking for
2 surrogacy, and they didn't even include randomized
3 trials in their set of trials.

4 It's important to appreciate that premature
5 adoption of a reasonably likely surrogate may also
6 thwart efforts to complete ongoing phase 3 trials
7 designed to assess a true definitive endpoint, so
8 don't jump too quickly. Thank you very much.

9 **FDA Presentation - Anup Amatya**

10 DR. AMATYA: Good afternoon. I'm Anup
11 Amatya, currently the acting lead mathematical
12 statistician at FDA, Division of Biometrics V.
13 Dr. McShane discussed many of the key
14 considerations in the validation of early endpoint
15 to support drug development.

16 From a regulatory perspective, the
17 fundamental question when using early endpoint is
18 whether the decisions based on such endpoint would
19 be the same had we waited for a trial to meet the
20 definitive clinical endpoint. By definitive
21 endpoints, I mean those endpoints that directly
22 measure how a patient feels, functions, or

1 survives, but there is overall survival or
2 long-term clinical benefit endpoints, so there's
3 event-free survival in the setting of
4 neuroblastoma.

5 Additionally, to rely on an early endpoint
6 for regulatory decision making, we also need to
7 have adequate data to understand the relationship
8 between the magnitude of observed treatment effect
9 on an early endpoint and a meaningful improvement
10 in definitive endpoints.

11 The degree of uncertainty that is acceptable
12 in the answers to these questions depends on the
13 context in which the early endpoint is being
14 considered for regulatory use, including the
15 regulatory pathway being pursued for marketing
16 approval.

17 There are two ways in which surrogate or
18 intermediate endpoints may be used to support
19 marketing approval of a drug or a biologic. If a
20 surrogate is validated and shown to be a reliable
21 predictor of clinical benefit, that endpoint may be
22 used for regular approval.

1 The second pathway is accelerated approval,
2 which allows the use of intermediate endpoints that
3 are reasonably likely to predict clinical benefit.
4 I note that intermediate endpoint is the term that
5 we use interchangeably with early endpoint.

6 As this pathway leaves some room for
7 uncertainty, confirmatory evidence of clinical
8 benefit is typically required in order to support
9 regular approval after accelerated approval is
10 granted. But before using an early endpoint to
11 support regulatory decision making, the strength of
12 evidence supporting the ability of the endpoint to
13 predict clinical benefit needs to be evaluated.

14 As Dr. McShane pointed out, it is not enough
15 for an endpoint to be prognostic for that endpoint
16 to be a reliable predictor of clinical treatment
17 effect on the definitive endpoint. The use of
18 Prentice criteria to establish reliability, while
19 theoretically appealing, is likely to be
20 impractical. The current approach to early
21 endpoint validation relies on meta-analytical
22 methods to work around this difficulty with

1 Prentice criteria.

2 Commonly discussed meta-analysis methods are
3 listed here. The last two methods listed have been
4 used by FDA for evaluating early clinical endpoints
5 of interest. As we have noted, all these
6 approaches require data from multiple randomized
7 trials, and some of them also require individual
8 patient-level data. This is one of the major
9 challenges in the validation of early endpoints.

10 As the validation of an early endpoint can
11 be complex, FDA has two mechanisms through which
12 the agency can provide feedback on the development
13 and use of novel endpoints to support approval,
14 firstly, through FDA's formal drug development
15 tool, the qualification program. The formalized
16 process and steps to follow are in the FDA
17 guidance, which is provided at the website listed
18 at the bottom of this slide.

19 The second mechanism is to discuss them with
20 a specific regulatory review division. An example
21 of an early endpoint that uses the second
22 regulatory mechanism is completed at 30 months in

1 follicular lymphoma. I use this example to
2 illustrate how the validation is accomplished and
3 to highlight two important caveats when using early
4 endpoints validated through the meta-analysis
5 approach.

6 Progression-free survival, or PFS, is a
7 generally used endpoint for assessing the efficacy
8 of a new drug in first-line follicular lymphoma,
9 but the expected median PFS in the first-line
10 setting for follicular lymphoma is long. It's over
11 six years, and it is continuously improving with
12 new therapies. A potential early endpoint was
13 considered to facilitate drug development in this
14 disease.

15 In 2015, the Follicular Lymphoma Analysis of
16 Surrogate Hypothesis group, which is also called
17 FLASH, explored a utility of 30-complete response,
18 which is also indicated as CR30 on this slide, as a
19 surrogate for PFS in first-line follicular lymphoma
20 trial.

21 Thirteen studies were selected. Eight of
22 these studies were induction trials, shown with

1 triangles on this figure, and five were trials that
2 included maintenance treatment, shown in circles.
3 Nine trials incorporated rituximab in at least one
4 of them, shown in gold color shapes, and four
5 trials did not include rituximab, shown in blue
6 color shapes.

7 There was a total of 3,837 evaluable
8 patients across these trials. The relative sizes
9 of these trials are represented by the sizes of the
10 shapes from this figure. The analysis was
11 conducted using two meta-analysis approaches that
12 used individual patient data.

13 The meta-analysis demonstrated consistent
14 results with both methods for a trial with
15 measurement of surrogacy. The point estimate of
16 the R square, which is the indirect measure of
17 correlation, was 0.88 with corresponding 95 percent
18 confidence interval 0.77 to 0.96 using weighted
19 least square approach, and 0.86 with confidence
20 interval of 0.75 to 1.0, based on the second
21 approach that also took account of patient-level
22 correlation between the two endpoints. Sensitivity

1 analyses were also conducted, and adults were
2 mostly consistent with the primary analysis
3 results.

4 Overall, as can be seen on this figure, the
5 results appear to support the use of 30 months
6 complete response as an early endpoint in patients
7 with previously untreated follicular lymphoma.
8 However, some caveats must be kept in mind when
9 considering the use of an early endpoint validated
10 in this manner.

11 First, in this specific example, the trials
12 through meta-analysis evaluated the use of
13 cytotoxic agents and rituximab, and therapeutics
14 that were via a different mechanism of action may
15 impact responses differently than the traditional
16 cytotoxic agents, which may in turn influence the
17 correlation between response rate and
18 progression-free survival. As such, 30 months
19 complete response rate should only be used in
20 trials of therapeutics that have a similar
21 mechanism of action and can be expected to have
22 similar response patterns.

1 Second, the majority of patients included in
2 this meta-analysis had a 3 or 4 in intermediate or
3 high-risk disease. Among patients with early-stage
4 disease and those with low or intermediate risk
5 scores, correlations were weak. As such, the use
6 of 30-month complete response rate as an early
7 endpoint may only be appropriate in future trials
8 that enroll a similar patient population to those
9 in meta-analysis. Their data also suggested that
10 30-month complete response may not be an adequate
11 surrogate for patients with low to intermediate
12 risk scores or stage 1 to 2 disease.

13 Now, circling back to neuroblastoma, what is
14 needed to development an early endpoint? First and
15 foremost, the early endpoint for consideration must
16 be biologically plausible. Some data seem to
17 exists to support biological plausibility of
18 end-of-induction response. Regarding
19 standardization, a consensus on response criteria
20 seems to have been agreed, and that will be helpful
21 in this process, at least going forward.

22 One of the challenges, perhaps, is the

1 limited number of randomized clinical trials
2 available in this setting. To validate a trial of
3 correlation, as Dr. McShane mentioned in her
4 presentation, there should be an adequate number of
5 randomized clinical trials that have captured
6 end-of-induction response and a definitive
7 endpoint, such as EFS. Appropriate statistical
8 analysis of course should be performed to establish
9 a reasonable really strong correlation between
10 improvement in early endpoint and the improvement
11 in how patients feel, function, or survive.

12 Additional consideration should be given to
13 potential confounding of surrogacy; if the
14 intensity or treatment regimen is in consolidation
15 or maintenance depends on response to induction
16 therapy; and even if the validation process is
17 successful, we need to acknowledge the limitation
18 that these methods are highly context dependent and
19 contingent on disease, stage, patient population,
20 and therapy that are used in the trials included in
21 the meta-analysis.

22 Early interaction with FDA is going to be

1 important to ensure that a drug development program
2 meets the general statistical considerations for
3 validation of candidate early endpoint; discussion
4 and agreement on definitions of endpoint; details
5 of the trials to be included in meta-analysis; and
6 a detailed analysis plan will be important in this
7 process.

8 In summary, an accelerated approval program
9 may be used to expedite approval for serious
10 life-threatening disease based on early endpoints
11 that are reasonably likely to predict clinical
12 benefit. The candidate early endpoint such as
13 end-of-induction response should be validated to
14 show that it is reasonably likely to predict
15 clinical benefit, which generally requires
16 multitrial approach.

17 The collaboration and cooperation between
18 all stakeholders and early planning of future
19 trials, including the ones conducted by academic
20 investigators, will be crucial to fully utilize a
21 limited number of trials that are feasible in
22 pediatric diseases such as high-risk neuroblastoma.

1 Additional research in methodology and perhaps
2 exploration into alternative data sources may also
3 be needed to overcome the limitations posed by
4 current reliance on multitrial approach. Thank you
5 for attention.

6 **Clarifying Questions**

7 DR. PAPPO: Thank you, Dr. McShane and
8 Dr. Amatya, for your excellent presentations.

9 We will now take clarifying questions for
10 Drs. McShane and Amatya. Please use the raise-hand
11 icon to indicate that you have a question, and
12 remember to clear the icon after you have asked
13 your question. When acknowledged, please remember
14 to state your name for the record before you speak
15 and direct your question to a specific presenter,
16 if you can. If you wish for a specific slide to be
17 displayed, please let us know the slide number, if
18 possible.

19 Finally, it will be helpful to acknowledge
20 the end of your question with a thank you, and end
21 of your follow-up question with, "That is all for
22 my questions," so we can move on to the next panel

1 member.

2 We have a question from Dr. Steve DuBois.

3 DR. DuBOIS: Thank you, Dr. Pappo. Steve
4 DuBois from Dana-Farber. I have a question for
5 Dr. McShane and a question for Dr. Amatya.

6 For Dr. McShane, I really enjoyed your
7 presentation, and I just want to highlight and dig
8 a little bit more deeply into this issue of
9 insufficient range of treatment effect. We
10 understand from Dr. Pinto that about 80 percent of
11 patients will have an end-induction partial
12 response or better, and I wonder what your thoughts
13 are on the challenges if we were to start using
14 end-induction response as a surrogate endpoint.

15 Does that actually make it more difficult
16 for us to run our trials as compared to an EFS
17 endpoint, which currently is at about 50 percent?

18 DR. McSHANE: Thanks for that question.
19 Yes, in fact it does make it more difficult. If
20 you have a very limited range of treatment effect
21 on either your surrogate, your candidate surrogate,
22 or your definitive endpoint, you don't really have

1 much room to play. To establish a correlation, you
2 need to show that things on the very low end on one
3 endpoint correspond to low on the other endpoint,
4 and vice versa on the high.

5 This has been one of the criticisms of some
6 of the meta-analyses that have been done in adult
7 solid tumors; that you really need to have that
8 variation in order to detect a correlation. So it
9 could be that a little bit later early endpoint
10 might actually work better than end of induction.
11 Furthermore, between end-of-induction and a more
12 long-term endpoint, there will be a lot of things
13 that happen in there. Treatment may have been
14 altered based on the patient's response or
15 non-response, or other supportive therapies. Lots
16 of things can happen.

17 Many of the success stories -- and it's
18 interesting. Even with the lymphoma example that
19 Dr. Amatya gave, he was using a 30-month endpoint,
20 which is going to be a whole lot closer to that
21 long-term endpoint. That actually gives you a big
22 advantage in terms of being able to establish

1 surrogacy. In adjuvant colorectal cancer, I think
2 they've shown 3-year disease-free. I could be a
3 little wrong on this, but a 3-year endpoint is a
4 pretty good surrogate of overall survival, so
5 that's definitely an important consideration.

6 DR. DuBOIS: Yes. Well, it almost makes
7 me -- I had come into this thinking that favorable
8 response is being a partial response or better, but
9 I almost wonder if the bar needs to be -- if we opt
10 to move in this direction, complete response or
11 better, where we know that only 20 percent of
12 patients have an end-induction complete response.
13 So it's interesting.

14 DR. McSHANE: Yes. And I'll just add one
15 more thing. I do think it will be important to
16 look at the various components of the response
17 criteria for the reasons I mentioned during the
18 talk. It could be that what's really giving a bad
19 outcome for the patient is the disseminated tumor
20 cells.

21 DR. DuBOIS: Yes.

22 DR. McSHANE: I would definitely, if I were

1 doing this one, look at those separate
2 compartments -- bone marrow and metastatic
3 sites -- and not just take the overall.

4 DR. DuBOIS: Yes. Thank you for that.

5 For Dr. Amatya, I think the methodology that
6 you presented seemed really enviable, and I think
7 maybe the challenge for us in neuroblastoma is that
8 most of our recent high-risk neuroblastoma trials
9 have actually not focused on induction -- or I
10 should say completed trials have not focused on
11 induction randomization.

12 A lot of the questions have been focused on
13 the consolidation phase or post-consolidation
14 phase. It's only our two ongoing studies, one in
15 the COG and one in SIOOPEN, that are looking at
16 induction question. I worry that the very nice
17 methodology that you showed, we won't actually be
18 able to do that type of a meta-analysis for a long
19 time.

20 So it's a long way to get to my question,
21 which is, at what point would we be able to say
22 that we've repeated an analysis showing a strong

1 correlation between end-induction response and
2 subsequent outcome? When can those correlations,
3 or enough of them, be accepted as a surrogate
4 without the data available to do the type of
5 meta-analysis that appears to be the preferred
6 approach?

7 DR. AMATYA: Thank you for that question.
8 That's one of the challenges, especially in this
9 disease area. I think that's the challenge in some
10 of these areas, too, with the different kinds of
11 surrogate endpoints, the potential surrogate
12 endpoint.

13 The question is when do we know that we have
14 enough information? And that I think is at a point
15 where we can discuss it in the context of the
16 application and the information that we have at
17 that point, and not only the potential surrogate
18 endpoint, but also other information from other
19 trials at that point.

20 I don't have a straight answer to that,
21 basically, because if we want to follow the
22 statistical threefold, then we to have some of

1 these methodologies, and the straight alternative
2 path isn't available at this point. It will have
3 to be discussed with the interested party, these
4 endpoints, to see if there's any path to move
5 forward.

6 DR. DuBOIS: Yes. Thank you for that.

7 Nothing further for me, Dr. Pappo.

8 DR. PAPPO: Question, Mark Conaway?

9 DR. CONAWAY: Yes. Mark Conaway, University
10 of Virginia.

11 Thank you, Dr. McShane and Dr. Amatya, for
12 those very interesting presentations. I had two
13 technical and one much more general. The technical
14 question is, in those analyses of complete or
15 partial response, or early endpoint versus
16 event-free survival, did they take the time element
17 into account?

18 For example, I presume -- though I didn't
19 really see the definitions -- you need to be event
20 free long enough to be declared a responder. Was
21 that taken into account at all by like a landmark
22 analysis or anything like that?

1 DR. McSHANE: Yes. If I could answer that
2 one. I think you're referring to the examples I
3 presented, probably. It's an excellent question, a
4 very astute observation by a statistician.

5 One of the analyses did use the time, I
6 think, of randomization or start of induction as
7 the time point. The other one did use more of a
8 landmark approach. And as you know well, it's a
9 little bit difficult to know exactly what to do
10 there, because as you pointed out, when you have a
11 patient who didn't make it to the landmark time
12 point -- in this case, around 30 days or so for end
13 of induction -- well, no, I guess it's longer than
14 that in neuroblastoma. But you have a patient who
15 didn't make it to that point, then you can't even
16 really measure that outcome, or if they drop out
17 and you don't know what happened to them, you're
18 sort of predicting -- you're using something that
19 happened in the future to divide the patients into
20 subgroups.

21 I think it was in the trial that used the
22 baseline, there actually was not a lot of drop out

1 until that early endpoint time, and I don't recall
2 the other one. The other one did use more of
3 landmark analysis. But when you use a landmark
4 analysis, what Dr. Conaway is referring to, is you
5 basically drop all the patients who didn't make it
6 to the end of induction -- in this case -- and then
7 you say, starting from that point, what is their
8 residual outcome?

9 There's not really a perfect way to deal
10 with that statistically unless you do something
11 fancier like use the time-dependent covariate
12 analysis. I think that the results in those cases
13 were probably strong enough that it wouldn't have
14 made much of a difference in the net conclusion
15 that there that was prognostic ability, but it's
16 definitely a statistical fine point that needs to
17 be looked at, and should be part of any analysis
18 plan that you develop for a meta-analysis.

19 DR. CONAWAY: Yes, thank you. Yes, that was
20 mostly clarifying as to whether that was done.
21 And I agree completely; these analyses are really
22 challenging, and there is no perfect way to deal

1 with it.

2 DR. McSHANE: Right.

3 DR. CONAWAY: The second question is much
4 more general. I really appreciated your point that
5 most of the work on surrogates treats the surrogate
6 as a direct substitute for the definitive endpoint.

7 Are you aware of work that's been done on
8 maybe multiple endpoints? Because usually the
9 definitive endpoint is being collected anyway. So
10 has there been work done, or not, on a direct
11 substitution but using the intermediate endpoint as
12 primary, supplemented by perhaps a lower bar of
13 information for treatment differences on the
14 definitive endpoint; or perhaps not a one-to-one
15 substitute of surrogate for definitive, but maybe
16 multiple surrogate endpoints would be more
17 predictive of a definitive endpoint?

18 Are you aware of any work done in that area?

19 DR. McSHANE: Yes. I don't know if that's
20 directed at me or Dr. Amatya. I can take a first
21 crack at it.

22 DR. CONAWAY: Either.

1 DR. McSHANE: I've certainly heard of people
2 working on analyses where they're even using
3 machine learning approaches to take all kinds of
4 things into account when trying to predict that
5 longer term endpoint. So, in theory, it seems like
6 something you could do.

7 I guess there may be regulatory issues with
8 regard to how such an approach would be reviewed
9 and how you decide to combine things. It's
10 something you probably would want to specify
11 up front, or you'd want to have a real clean
12 separation between the development of such an
13 endpoint and the eventual validation. You don't
14 want to be doing the combining on the fly because
15 you're likely to just come up with spurious things.

16 But I don't know if Dr. Amatya has anything
17 else to that.

18 DR. AMATYA: I also have not seen a proposal
19 like that, looking at multiple potential surrogates
20 for multiple endpoints. But we do have an
21 accelerated approval pathway, and that seems to be
22 an approach where you've had an effect on that

1 reasonably likely surrogate, and then pursue then
2 or do a different second trial, and then try the
3 earlier signal maybe a few years later. But that's
4 one pathway I can see if you use not a replacement
5 endpoint, but a reasonably likely early endpoint,
6 and that could be discussed in the regulatory
7 setting.

8 DR. McSHANE: My impression, Dr. Amatya, if
9 you could comment on this, is that in many kinds of
10 decisions, there are secondary endpoints that are
11 given consideration as to whether they are
12 supportive or not of a result on a primary
13 endpoint, so I think informally that happens.
14 Whether anybody has formally proposed a combined
15 sort of endpoint and tried to validate it as a
16 surrogate, that I'm not so sure.

17 DR. AMATYA: [Indiscernible].

18 DR. PAPPO: I had a question for
19 Dr. McShane, and it goes back to one of her slides.
20 I think it was slide number 9. I don't know if
21 they can put up slide number 9, but it's when you
22 designed a clinical trial for end-of-response

1 assessment, and you had those that had a good event
2 of response and those that did not have a good
3 end-of-induction response.

4 I had two questions. The first one is, why
5 would you take those patients off study that had a
6 good end-of-induction response? Wouldn't that
7 group provide important information regarding
8 validating your prognostic factors for those who
9 respond or do not respond, and would serve as a
10 good control to be sure that your therapy is as
11 effective as you thought it was going to be
12 initially when you compare it to the experimental,
13 to the standard amount of patients that did not
14 respond?

15 The second question was, when you have the
16 end-of-induction response, non-responders when they
17 are randomized, you're going to run into relatively
18 small numbers of patients, especially if our
19 therapy gets better and better. For example, when
20 you introduce chemoimmunotherapy up front, would
21 you consider doing some modifications in the way
22 you're going to analyze the data; for example,

1 relaxing the alpha even though it's not going to be
2 as strong, but just to provide some sense of this
3 new experimental therapy is working or not?

4 Those were my two questions.

5 DR. McSHANE: Okay. Thanks.

6 Yes. So the off-study part, I guess it's a
7 question of whether you're actually continuing to
8 look at those patients and answering an
9 investigational question. With off study, the
10 thought is that you're probably giving them
11 whatever you would normally give, and they're
12 already showing signs of responding favorably to
13 the usual strategy for treatment. You're correct
14 that you could certainly choose to follow those
15 patients to see if their outcome remains as good as
16 you think it should be. It's just a matter of what
17 you're really defining as your clinical trial
18 question.

19 We do have a number of studies where we use
20 these enrichment strategies, and for lack of
21 resources, often we can't afford to follow all the
22 patients who didn't make it into the enriched

1 subgroup. But you make an excellent point, that
2 there may still be value in some situations and
3 following those patients.

4 Your other question was about the fact that
5 as our treatments get better and better, or at
6 least better at producing responses, that this
7 group could become very small. Yes, that is a real
8 challenge, and in fact a challenge -- this is kind
9 of what personalized medicine is all about, right?
10 I mean, the better we get at tuning therapies, the
11 smaller the group will be that needs to have
12 something better than the existing therapies.

13 We have trials even with biomarker-guided
14 enrichment for targeted therapies. For example, we
15 have adjuvant 1 trials right now where the rate of
16 patients who make it into the enriched group and
17 get randomized might be as low as 10 percent or
18 5 percent, and it becomes extremely difficult,
19 especially in a rare disease, to conduct trials
20 that way.

21 So then you kind of get into the question
22 of, well, when you're in that rare disease setting,

1 or at least a rare subset within a disease, should
2 we relax some of our usual statistical criteria,
3 like relax the alpha level thing? We're going to
4 be happy if we can use an alpha 0.10 or 0.15.

5 That's really a question that the whole community
6 has to deal with. What is our tolerance level for
7 making a mistake? And sometimes we do have to
8 settle for a lesser confidence in the outcome.

9 DR. PAPPO: Thank you. That answers my
10 question.

11 We have a question from Dr. Mishra-Kalyani.

12 DR. MISHRA-KALYANI: Hello. This is Pallavi
13 Mishra-Kalyani from FDA statistics. Actually, no;
14 I was trying to add responses to some of the prior
15 questions. I guess I can just give a quick note
16 now, if that's ok.

17 To the previous question regarding ethical
18 endpoints being validated together or used together
19 for the definitive endpoint, I just wanted to point
20 out that in the context of the regulatory review
21 process, we do somewhat follow this method of
22 looking at several endpoints regardless of the type

1 of endpoint that is the primary endpoint in the
2 trial.

3 So regardless of whether we're using an
4 early clinical endpoint or a definitive clinical
5 endpoint for the trial, we do look at various
6 endpoints as supportive evidence, and we'd like to
7 see that there is benefit demonstrated on various
8 endpoints to ensure that what we're seeing is truly
9 robust, or the treatment benefit that we're
10 observing is truly robust and documented by several
11 different mechanisms or different markers.

12 I was just trying to add that to the
13 previous response. Thank you.

14 DR. PAPP0: Thank you very much.

15 We have one last comment from Dr. Donoghue,
16 and then we'll go to the OPH session.

17 DR. DONOGHUE: Thank you, Dr. Pappo. I hope
18 I lowered my hand.

19 I just wanted to make sure
20 Dr. Mishra-Kalyani had a chance to chime in, and
21 she has, so thank you.

22 **Open Public Hearing**

1 DR. PAPPO: Thank you so much.

2 We will now begin the open public hearing
3 session. Both the FDA and the public believe in a
4 transparent process for information gathering and
5 decision making. To ensure such transparency at
6 the open public hearing session of the advisory
7 committee meeting, the FDA believes that it is
8 important to understand the context of an
9 individual's presentation.

10 For this reason, the FDA encourages you, the
11 open public hearing speaker, at the beginning of
12 your written or oral statement to advise the
13 committee of any financial relationship that you
14 may have with a sponsor, its product, and if known,
15 its direct competitors. For example, this
16 financial information may include a sponsor's
17 payment of your travel, lodging, or other expenses
18 in connection with your participation in this
19 meeting.

20 Likewise, the FDA encourages you, at the
21 beginning of your statement, to advise the
22 committee if you do not have any such financial

1 relationships. If you choose not to address this
2 issue of financial relationships at the beginning
3 of your statement, it will not preclude you from
4 speaking.

5 The FDA and this committee place great
6 importance in the open public hearing process. The
7 insights and comments provided can help the agency
8 and this committee in their consideration of the
9 issues before them.

10 That said, in many instances and for many
11 topics, there will be a variety of opinions. One
12 of our goals for today is for this open public
13 hearing to be conducted in a fair and open way,
14 where every participant is listened to carefully
15 and treated with dignity, courtesy, and respect.
16 Therefore, please speak only when recognized by the
17 chairperson, and thank you for your cooperation.

18 Speaker number 1, your audio is connected
19 now. Will speaker number 1 begin and introduce
20 yourself? Please state your name and any
21 organization you are representing for the record.
22 Thank you.

1 DR. ZELDES: Good afternoon. Thank you for
2 the opportunity to speak today on behalf of the
3 National Center for Health Research. I am Dr. Nina
4 Zeldes, a senior fellow at the center. We analyze
5 scientific data to provide objective health
6 information to patients, health professionals, and
7 policymakers. We do not accept funding from drug
8 or medical device companies, so I have no conflicts
9 of interest.

10 Our statement today is based on our
11 organization's experience of working with thousands
12 of patients and caregivers. We understand that
13 patients and their parents urgently want new
14 treatments for these terrible cancers and think
15 they are willing to take almost any risks if a new
16 treatment might possibly be effective, but they
17 feel very differently when treatments do more harm
18 than good. Of course, nothing is worse for a
19 parent in making a medical decision that harms a
20 child without providing meaningful benefits.

21 We agree with FDA's statement in its memo
22 that randomized trials, quote, "have a continued

1 role in generating the evidence needed to improve
2 treatment paradigms for patients with high-risk
3 neuroblastoma despite the challenges associated
4 with enrolling sufficient numbers of patients in a
5 timely fashion and the length of time needed to
6 conduct trials," unquote.

7 We note that the FDA points out that
8 endpoints traditionally used to evaluate
9 effectiveness of drugs for first-line treatment of
10 patients with high-risk neuroblastoma are
11 event-free survival, typically defined as the
12 time from randomization to the first recurrence of
13 relapse progressive disease; secondary malignancy
14 or death; and overall survival.

15 These are the most appropriate endpoints for
16 two reasons. First, cancer treatments always have
17 the potential of resulting in serious risk to
18 quality of life. Parents of these children need as
19 much information as possible when they decide what
20 treatments to accept for their children. Any kind
21 of surrogate endpoint that does not involve
22 improved survival or improved quality of life has

1 the potential to have risks that outweigh the
2 benefits.

3 Second, once a pediatric cancer treatment is
4 approved, it can be very difficult, if not
5 impossible, to conduct well-controlled postmarket
6 studies to confirm whether the benefits outweigh
7 the risks. Randomized trials with placebo are
8 often impossible.

9 We acknowledge that researchers have
10 emphasized the importance of identifying the most
11 effective treatments to use during the induction
12 phase of treatment in order to improve patient
13 outcomes. It may be that end-of-induction response
14 may predict event-free survival or overall
15 survival, but that is not yet clear. In addition,
16 the relationship between end-of-induction response
17 and adverse events is also unknown. These are
18 important to study, but meanwhile, end-of-induction
19 response is not an adequate endpoint for these very
20 important treatments.

21 Clinical benefits should remain the key
22 endpoints for approval decisions of these

1 treatments. Surrogate endpoints that predict
2 clinical benefits are not yet established, and
3 until they are, we are concerned about their use as
4 secondary endpoints unless the primary endpoint is
5 also met. Thank you for your time.

6 **Questions to the Subcommittee and Discussion**

7 DR. PAPP0: Thank you very much, Dr. Zeldes.

8 The open public hearing portion of this
9 meeting has now concluded and we will no longer
10 take comments from the audience. The committee
11 will now turn its attention to address the task at
12 hand, the careful consideration of the data before
13 the committee, as well as public comments.

14 We will proceed with the questions of the
15 committee and panel discussions. I would like to
16 remind public observers that while this meeting is
17 open for public observation, public attendees may
18 not participate except at the specific request of
19 the panel. We will start with question number 1
20 from the FDA.

21 Would you please read the question?

22 DR. DONOGHUE: Question number 1. Please

1 discuss the potential benefits and limitations to
2 using an intermediate clinical endpoint in the
3 evaluation of new drug under development for the
4 first-line treatment of patients with high-risk
5 neuroblastoma.

6 DR. PAPPO: While everybody's getting their
7 hands up, I could potentially start.

8 Based on our discussions, the potential
9 strength of using this would be that perhaps you
10 could treat all this population in a clinical trial
11 and help better identify the factors that are
12 associated with a poor response to induction
13 therapy if you collect all the genomic or the
14 clinical data in an organized fashion.

15 I think that this could potentially help
16 expedite the testing of new drugs or drug
17 combinations for this population of patients, which
18 is really the ones that you want to target, the
19 ones that are not going to respond, and develop
20 resistance.

21 I think that, also, this could allow you to
22 identify those drugs or drug pairs that could

1 potentially be inactive. The only issue is
2 whether -- as has been raised before -- this PR
3 interim introduction therapy is the right endpoint.
4 Would a CR be better, or would an earlier response,
5 for example, after two cycles, be a better
6 predictor of outcomes, and could potentially help,
7 quote-unquote, "salvage" those poor responders?

8 Those were my considerations, and now we
9 have Steve.

10 DR. DuBOIS: Steve DuBois from Dana-Farber,
11 Boston Children's. I think one potential benefit
12 that I don't think has come up yet is that in the
13 course of designing our clinical trials, we often
14 are flying a bit blindly when we are designing our
15 successor trial because we don't have, really, any
16 idea about how the current trial is looking. And
17 if we had an earlier readout that didn't require
18 three years of follow-up, then that may allow us to
19 be a bit more nimble in designing our successor
20 trials.

21 DR. PAPPO: Thank you very much, Steve.

22 Ro Bagatell?

1 DR. BAGATELL: Hi. This is Ro Bagatell from
2 the Children's Hospital of Philadelphia. While I
3 agree with Steve about the need to be nimble, I
4 would add to that comment by saying, when we
5 recently mapped out our timeline for answering
6 important questions in neuroblastoma, it became
7 apparent to us that some of the pressing questions
8 in high-risk neuroblastoma therapy will not be
9 answered until the late 2030s if we go at our
10 current pace.

11 So I completely agree with Steve about our
12 need to be nimble, but I do think that there are
13 limitations we just need to be aware of. And one
14 of those is that the data that we have are
15 primarily based on studies of cytotoxic agents in
16 induction, and it's just hard to know how those
17 data apply and what we can say about the layering
18 on of additional agents of other classes on top of
19 cytotoxic induction, and the impact that they would
20 have on a marker like end-induction response as a
21 proxy for more distant endpoints.

22 DR. PAPPO: Thank you.

1 Dr. Conaway?

2 DR. CONAWAY: Yes. Mark Conaway, University
3 of Virginia. Yes, I agree, the benefit is a
4 quicker assessment and quicker evaluation of
5 therapies.

6 One question I had -- and I certainly have
7 no answers on this -- is the slides that showed
8 event-free survival and end-of-induction therapy
9 response, it looked like the survival curve
10 separated out really early, and dramatically early.

11 It seems like if you saw an early signal in
12 terms of end-of-induction therapy, you would also
13 be seeing, to some degree, a difference in
14 event-free survival. Maybe the data wouldn't be
15 mature enough. Maybe there wouldn't be statistical
16 significance, but it does seem to me that you would
17 be seeing signals on both of those endpoints.

18 So it isn't clear to me, because of the
19 length of the induction therapy, that the
20 intermediate endpoint is really going to save all
21 that much time. It will save some time in the
22 assessment of therapies, but it isn't clear to me

1 how much.

2 DR. PAPP0: Any additional comments? Is
3 there consensus of the group that one of the
4 potential benefits could also be to potentially
5 identify early new promising drug pairs or single
6 pairs that could potentially be incorporated into
7 this high-risk population of the diagnosis?

8 (No response.)

9 DR. PAPP0: Any comments on that?

10 (No response.)

11 DR. PAPP0: Okay. If not, I'm going to go
12 to Ted Laetsch.

13 DR. LAETSCH: Thank you. Ted Laetsch.

14 Alberto, I agree with your thoughts that
15 that is certainly a potential benefit, and agree
16 with the other members around this. I think
17 recognizing some of the comments by the
18 statisticians and the community representative, I
19 think it is important to think through the
20 importance of continuing to gather the longer term
21 survival endpoints, as well on these trials, and
22 wonder if the FDA can use some of its regulatory

1 discretion through things like accelerated
2 approvals and requirements for subsequent
3 confirmatory data to alleviate some of those
4 concerns, while still allowing more rapid drug
5 development for this patient population that's
6 clearly in need.

7 DR. PAPP0: Thank you, Ted.

8 Dr. Kraus?

9 (No response.)

10 DR. PAPP0: Dr. Kraus, did you have a
11 comment?

12 DR. KRAUS: Sorry. I was double-muted.
13 Can you hear me now?

14 DR. PAPP0: Yes.

15 DR. KRAUS: Yes. Sorry.

16 I was going to comment on your comment,
17 Dr. Pappo. I think having therapies getting to
18 patients earlier, particularly when there's very
19 severe prognosis, including, almost uniformly,
20 early mortality, is a big advantage for patients.
21 Obviously, you don't want to get therapies there
22 wrongly without an adequate benefit-risk, but that

1 time can be especially precious.

2 So I would support the concept that while
3 the survival curves did separate reasonably early,
4 those curves were over many years and decades with
5 5, 10, 15-year periods on those Kaplan-Meiers in
6 the index, so it's still years. I think that's
7 very important for patients.

8 The second part really refers to, I think,
9 the comment somebody may about regulatory process,
10 and I look back to, for instance, how a number of
11 approvals and confirmations of approvals were made
12 on an accelerated approval basis, and then a
13 confirmation of that basis in chronic myelogenous
14 leukemia, and I was involved in several programs
15 there.

16 Earlier data yielding accelerated approval
17 to allow the drug to get out there, and then longer
18 term follow-up with the same single-arm trial with
19 more information from various endpoints, but
20 predominantly more surety around original endpoints
21 and durability of such served to confirm, could be
22 something that could be considered, particularly

1 when it's really hard to mount randomized trials,
2 et cetera, et cetera. That's just my comments for
3 consideration. Thank you.

4 DR. PAPP0: Any other additional questions
5 and comments before I summarize our discussion for
6 question number 1?

7 Julia Glade Bender?

8 DR. GLADE BENDER: Hi. Thank you,
9 Dr. Pappo. Julia Glade Bender from Memorial Sloan
10 Kettering. While I appreciate the potential
11 statistical limitations, I was very struck by Leona
12 Knox's plea on behalf of the patients, which is
13 that in the absence of a trial, I think there is
14 real disillusionment amongst the patient to
15 population.

16 I think the statistical potential
17 limitations in many ways are not the factors that
18 they are thinking about. What it takes in terms of
19 phase 1 and phase 2 research to actually even be
20 considered to move up front in a phase 3 pediatric
21 clinical trial is substantial. So I think the
22 limitation is that we would come up with the wrong

1 conclusion that we'd ultimately find out when we
2 looked at event-free survival. But then the
3 question was, was that time lost or wasted because
4 we had something better we could be doing?

5 I think when we look in sum, the benefits
6 probably outweigh the limitations. There is a
7 chance we would get it wrong, but I think there's
8 also, based on all of the preponderance of
9 evidence, more of a chance that we might get it
10 right, and sooner.

11 DR. PAPPO: Thank you very much, Julia.

12 If I can summarize this, the panel believes
13 that there are certainly some potential benefits to
14 use this intermediate clinical endpoint for
15 evaluation of new drugs in neuroblastoma. Some of
16 the potential benefits include that you could
17 potentially identify new drugs or new drug pairs
18 that would target the patients that have the
19 biggest risk for relapse, and this could be
20 incorporated earlier into the treatment of patients
21 with high-risk neuroblastoma.

22 Another benefit would be that an early

1 readout could potentially help plan successor
2 trials earlier. The endpoint of end-of-induction
3 therapy response may have some limitations based on
4 the data that we currently have, which is mostly
5 based on cytotoxics. That is unclear if with newer
6 therapies this could potentially change.

7 It also is important to consider that we
8 need to continue to look at long-term survival as
9 an endpoint and that this could also provide means
10 for better analyzing the data in clinical trials
11 that are using this endpoint. As Julia said, one
12 of the main drawbacks of this is that perhaps in
13 the end, we got it all wrong, and really this does
14 not correlate with outcome, and we could have
15 potentially wasted, quote-unquote, "some time"
16 doing this clinical trial without potential benefit
17 for the patients. But based on the cumulative data
18 that we have, it appears that the benefit might
19 outweigh the limitations of this potential
20 drawback.

21 Did I get it sort of right? Did I miss
22 anything?

1 (No response.)

2 DR. PAPPO: I think it was great.

3 Okay. Let's go to question number 2.

4 DR. DONOGHUE: Thanks, Dr. Pappo. This is
5 Martha Donoghue. I think some of this discussion
6 may have already occurred under question 1, but
7 I'll go ahead and read it.

8 Please discuss the strength of the evidence
9 for using end-of-induction response as a prognostic
10 factor and to assess antitumor activity of
11 investigational treatments during the induction
12 phase of treatment.

13 DR. PAPPO: Yes. I think some of this was
14 addressed in question number 1, but I look forward
15 to additional comments.

16 I don't, Julia, if you just forgot to put
17 your hand down or if you have a comment.

18 DR. GLADE BENDER: I've taken my hand down.

19 DR. PAPPO: Thank you.

20 Anyone else would like to add to question
21 number 2?

22 (No response.)

1 DR. PAPPO: Okay. So it appears that most
2 of the answers to this question have been
3 adequately addressed in question number 1, and we
4 will move to question number 3.

5 DR. DONOGHUE: Please discuss how
6 end-of-induction response is used in clinical
7 decision making and the implications of its use in
8 the design and conduct of clinical trials
9 investigating new treatments for patients with
10 high-risk neuroblastoma.

11 DR. PAPPO: If there are no questions or
12 comments concerning the wording of the question, we
13 will now open the question for discussion.

14 We have Steve.

15 DR. DuBOIS: Steve DuBois, Dana-Farber. I
16 think I'll just highlight one of the points that
17 Dr. Pinto made in his description of the ANBL 2131
18 proposed clinical trial, which would allow patients
19 with an inadequate end-of-induction response to
20 actually stay on study and be systematically
21 followed, which is a bit of a departure from how
22 we've done things in prior trials, where if a

1 patient elects to come off protocol therapy due to
2 inadequate response, if they go on to one of our
3 COG relapsed or refractory trials, we can track
4 their outcomes and understand more fully what
5 treatments they received, but otherwise, it's a
6 very heterogeneous approach historically to those
7 patients. So I think it will be really valuable to
8 have those patients treated uniformly and tracked
9 uniformly in the context of the same trial.

10 DR. PAPPO: That is a great point.

11 Anyone else that would like to comment on
12 question number 3?

13 Dr. Kim?

14 DR. KIM: Hi. This is AeRang Kim from
15 Children's National, and I agree with Dr. DuBois.
16 And just to add, I think if we're using this
17 end-of-induction response, currently if patients
18 are coming off therapy because they've progressed,
19 if we're using this as an early endpoint in the
20 design of future trials and there can be a way
21 forward for those patients in the future trials,
22 and those that have progressed are now going to get

1 treatment A, B, or C because we know that there are
2 going to be poor responders, that will be an
3 opportunity to use that and to have those patients
4 remain on clinical trials, and opportunity, as
5 discussed before, to have new therapies and input
6 at that time, which I think is a benefit. Thank
7 you.

8 DR. PAPPO: Thank you very much.

9 Dr. McMillan?

10 DR. McMILLAN: Gigi McMillan, Loyola
11 Marymount University. I'm a bioethicist and a
12 patient advocate, and I just wanted to comment that
13 with regards to the design of clinical trials using
14 end-of-induction response, this is an example of a
15 creative response or a creative strategy for a
16 group of patients for which there has little been
17 done in a timely manner, and it's not from science
18 not trying its best.

19 But we have new ways of thinking about data
20 and new ways of correlating what's happening in
21 trials that are already in existence. These
22 examples of a creative new design, this is an

1 ethical response for these patients and these
2 families who don't really have much hope or much at
3 their disposal at this time?

4 DR. PAPP0: Thank you very much.

5 Dr. Bagatell? Dr. Bagatell, go ahead.

6 DR. BAGATELL: Thank you, Dr. Pappo.

7 This is Ro Bagatell from the Children's
8 Hospital of Philadelphia. I like the way that this
9 question separated the discussion about how
10 end-of-induction response is used in clinical
11 decision making, then the second half of the
12 question is about its implications in the design
13 and conduct of clinical trials. The reason I like
14 that is because I think the first part speaks to, a
15 little bit, the history of how we've made clinical
16 decisions in this disease and reminds us to be a
17 little bit humble about things.

18 Years ago, those of us who took care of
19 neuroblastoma patients followed the practice
20 guidance that came from the Yanik data about
21 end-of-induction Curie score, and when we had a
22 patient with a even slightly high Curie score, we

1 sat people down and laid crepe, and told them
2 continuing on therapy is essentially futile and we
3 really have to rethink the goals of care and those
4 kinds of things.

5 Then new therapy came along, and specific
6 chemoimmunotherapy, and it really was a
7 game-changer. We don't have that conversation with
8 patients anymore. We have a different set of
9 conversations; not that it's super happy, and based
10 on the Pinto data, we know that often those
11 patients don't fare as well, but it's not as
12 clear-cut.

13 So I think we should just remember that in
14 addition to trial design, we have to think about
15 clinical decision making and the implications of
16 putting a stamp of approval on end-of-induction
17 response as the be-all and end-all when the world
18 does change and evolve, and we just have to stay
19 humble about that.

20 DR. PAPPO: Thank you very much for that
21 comment.

22 I'm going to go a little bit off script. I

1 know that Dr. Popovic is not part of the panel, but
2 she's an expert in this area, and she would like to
3 make a comment, so I am going to allow that.

4 DR. BECK POPOVIC: Thank you very much.

5 Many things have been said, but it is
6 important, I think, that if we have the
7 end-of-induction response to use in clinical
8 decision-making, that we have a plan; that these
9 patients are not lost. In the past, in our former
10 high-risk study, patients that had insufficient
11 response in end of induction had additional
12 chemotherapy, which was planned, before they would
13 go, then, to surgery, high-dose chemotherapy,
14 et cetera, and now we have this implemented in the
15 new high-risk protocol through VERITAS.

16 So I think it is important that these
17 patients might not be lost and that we have a
18 strategy to use what happens at end-of-induction
19 evaluation to decide on further treatment.

20 This is just to add to the former comments.
21 Thank you very much for letting me make the
22 comment.

1 DR. PAPPO: Thank you.

2 I think that the overall consensus on this
3 question number 3, it all started with Steve's
4 highlight that it will be very important for these
5 patients to remain on protocol and to track their
6 outcomes since they will provide significant
7 important information for the future, and this was
8 a recurrent thing.

9 Again, the other answer that would go to
10 this question is given the poor outcome of these
11 patients, that it's a good thing to try to become
12 creative and come up with new methods to try to
13 assess response and identify new therapeutics for
14 these patients. What Dr. Ro Bagatell said also is
15 this concept of end of induction and how we
16 identify these patients at high risk for failure
17 ultimately may evolve over time as new therapies
18 come into play.

19 Did that summarize our discussion for
20 question number 3?

21 (No response.)

22 DR. PAPPO: Did I miss anything?

1 (No response.)

2 DR. PAPP0: Okay. We will now proceed with
3 question number 4.

4 DR. YU: Dr. Pappo, I'm sorry. It looks
5 like Dr. Glade Bender still had a comment on this
6 question.

7 DR. PAPP0: I apologize. Please go ahead.

8 DR. GLADE BENDER: Julia Glade Bender,
9 Memorial Sloan Kettering. Actually, I should
10 apologize to you, but as you were restating our
11 thoughts, I had a new thought, which was that we've
12 also had a lot of discussion about poor
13 end-of-induction response.

14 I just think as we move forward collecting
15 good data on end-of-induction response, it is
16 important because as we discussed in our session
17 yesterday, there is also a subset of patients
18 potentially with excellent end-of-induction
19 response who subsequently might benefit from a
20 question of whether or not they need all of the
21 downstream chemotherapy, including high-dose
22 chemotherapy in the future. And unless we start to

1 rigorously collect this data and the outcomes in a
2 uniform manner, we won't be able to ask questions
3 like that either; not just those who have a poor
4 end-of-induction response, but those who have an
5 excellent one, and maybe there could be a therapy
6 reduction question in the future.

7 DR. PAPP0: Excellent point. Thank you.

8 If there are no additional comments or
9 suggestions for question number 3, we will move to
10 question number 4, and we will have the FDA read
11 this question.

12 DR. DONOGHUE: Given the current strength of
13 evidence for using response at the end of induction
14 to predict patient outcome and assess antitumor
15 activity, consider the appropriate use of this
16 endpoint in clinical trials.

17 I think we may have touched upon this a
18 decent amount already, but hopefully there's some
19 additional discussion to be had. Thanks.

20 DR. PAPP0: If there are no questions or
21 comments concerning the wording of this question,
22 we will now open this question for discussion.

1 (No response.)

2 DR. PAPPO: Any takers?

3 DR. DuBOIS: Dr. Pappo, I have my hand
4 raised. I'm not sure if you can see that.

5 DR. PAPPO: Okay. Sorry. Go ahead, please.

6 DR. DuBOIS: Steve DuBois, Dana-Farber.

7 At the risk of being extraordinarily
8 obvious, I think it's crystal clear that as we're
9 designing trials, we need to obviously capture
10 detailed end-of-induction response; and not just
11 the overall response category, but site-specific
12 response because it certainly may be that
13 end-induction response as an overall measure may
14 have its limitations, but that perhaps disease
15 domain-specific responses may be more informative
16 such as response by MIBG scan or clearing the bone
17 marrow, for example. As we're developing these
18 trials, we'll never be in a position to develop and
19 validate surrogate markers without that level of
20 detailed data.

21 DR. PAPPO: Thank you very much.

22 Dr. Mitchell?

1 MR. MITCHELL: Thank you, Doctor. It's
2 Mr. Mitchell, but I'm always happy to be promoted
3 on these calls.

4 Given that the FDA standard for surrogate
5 endpoints, I believe, is that they'd be reasonably
6 likely to predict a clinical benefit, especially
7 that they are important when we're dealing with an
8 unmet need, as long as we continue to study and to
9 confirm whether end-of-induction response, a good
10 end-of-induction reduction response, is in fact
11 predictive of positive clinical outcome, I think
12 that there's a reason, given the strength of the
13 evidence, to use this endpoint in clinical trials.
14 Thank you.

15 DR. PAPPO: Thank you very much.

16 Any other additional comments for question
17 number 4?

18 DR. KRAUS: Yes. I have one. Albert Kraus,
19 industry representative, Pfizer. I agree with
20 Mr. Mitchell. My personal view is it may go beyond
21 just in trials in terms of reasonably likely to
22 predict and be considered in drug reviews and

1 approvals. But around the appropriate use in
2 trials, I think we heard articulated that it's used
3 and induction maintenance is an important practice,
4 and I have familiarity with it in other settings.

5 Normally, the designs that I'm familiar with
6 in maintenance are different than what were
7 described previously in slides. Normally, anyone
8 who doesn't progress would be put on randomized
9 maintenance, and somebody who progressed would go
10 to new therapy because obviously the therapy wasn't
11 helping them. But anyone who had a PR, or CR, or
12 stable disease would be randomized to some level of
13 continued therapy, which sounded like that was a
14 clinical practice, too, in what I heard from
15 Dr. Pinto; that it was hard for PRs or CRs because
16 of varied subsequent additional therapy, but in a
17 way, that seems to be how maintenance evolves in
18 certain other settings.

19 So I think it's important to think about
20 those designs and to absolutely measure it,
21 especially if substantial induction response can be
22 achieved, as seems to be noted. So I just wanted

1 to comment in that way, on those two points. Thank
2 you.

3 DR. PAPP0: Thank you very much.

4 I don't see any other hand that are raised.
5 Oh, I think Dr. Bagatell, yes?

6 DR. BAGATELL: Thank you, Dr. Pappo. This
7 is Ro Bagatell from Children's Hospital of
8 Philadelphia. I'd like to just remind everyone of
9 something Dr. Pinto said in his talk because he's
10 from Peoria.

11 I think we always have to think about the
12 international neuroblastoma response criteria in
13 the multicenter context, and remember that if you
14 have stable disease in one component, you have
15 stable disease overall. This comes to the point of
16 how does it play in Peoria in the sense that we
17 have a wide range of institutions that take care of
18 children with neuroblastoma, and some have surgeons
19 who don't operate on very many patients with
20 neuroblastoma and may leave a good amount of tumor
21 behind. And even if you have a fantastic response
22 in the rest of your compartments, as Dr. DuBois and

1 Dr. Beck Popovic have said, you're still coded as
2 stable disease.

3 So I just want to emphasize the importance
4 of keeping the limitations of the INRC in mind
5 because you may have patients who are thought to
6 have an inadequate response to induction who
7 actually had rather a stupendous response to
8 induction, but residual disease left at the primary
9 site.

10 So I think if we're going to use
11 end-induction response as an endpoint in our
12 clinical trials, as Steve suggested, we really need
13 to look at the components and probably get more
14 data on response in metastatic sites in the
15 background for this use, but then also require that
16 people who are using end-induction response in
17 trials really break it down and help us understand
18 what's happening in metastatic sites versus the
19 overall response that might end up penalizing new
20 drugs, based on having some primary tumor left
21 behind.

22 DR. PAPPO: That's an excellent point.

1 Thank you.

2 If I can briefly summarize the discussion
3 for question number 4, it will be very important
4 going forward in a clinical trial, that uses
5 end-of-induction therapy as a surrogate endpoint,
6 to capture data in detail, specifically at specific
7 sites since that could potentially affect the
8 overall assessment of response.

9 That may be a problem, especially when you
10 have a multicenter trial with multiple places where
11 they may not have a lot of experience with treating
12 neuroblastoma. There was an overall consensus that
13 this is probably a good endpoint to continue to
14 assess. And finally, to continue to, I would say,
15 think about optimal study designs for this group of
16 patients, especially those that have achieved a PR,
17 or CR, or stable disease.

18 Did I leave anything out?

19 (No response.)

20 DR. PAPP0: We will move to question
21 number 5 now.

22 DR. DONOGHUE: If there is sufficient

1 evidence to support future efforts, please provide
2 recommendations regarding interest, feasibility,
3 and future steps to validation of end-of-induction
4 response as a clinical endpoint in the first-line
5 treatment of patients with high-risk neuroblastoma.
6 I think we may have covered some of this already as
7 well, but certainly welcome additional discussion.

8 DR. PAPPO: I think so, too, but I think,
9 Dr. Bagatell, do you have your hand up, or you just
10 forgot to put it down from the last comment?

11 DR. BAGATELL: Sorry. I forgot to put it
12 down. I'm doing it now.

13 DR. PAPPO: Okay. I'm trying to scan. I
14 see David Mitchell.

15 MR. MITCHELL: That's a leftover. I'm
16 putting it down now. I apologize, Doctor.

17 DR. PAPPO: Sorry.

18 Nita? Dr. Seibel?

19 (No response.)

20 DR. PAPPO: Nita, did you have a comment?

21 DR. SEIBEL: Can you hear me now?

22 DR. PAPPO: Yes.

1 DR. SEIBEL: Okay. Nita Seibel from the
2 NCI. This has already been mentioned, but I don't
3 think we can emphasize or highlight this enough,
4 how crucial it will be to have accurate follow up
5 on the patients, based on their end-of-induction
6 response, so we can really correlate this as a
7 clinical endpoint. I think that's some of our
8 concerns, particularly in the ANBL 2131 proposal,
9 is to make sure or to be assured that this will
10 happen.

11 DR. PAPPO: Perfect. Thank you very much.

12 Steve, do you have a comment?

13 DR. DuBOIS: Yes. Steve DuBois,
14 Dana-Farber. Personally, I think I've certainly
15 heard a fair bit of interest from the group and
16 from this rather lively discussion about continuing
17 to explore some component of end-induction
18 response. I'd like to thank the FDA for putting
19 together today's meeting, and I've certainly
20 learned a great deal.

21 I guess my only other comment would be that
22 I think understanding what data are available today

1 and our desire to not wait until completion of
2 additional clinical trials to pursue this, I'd just
3 say that this would require a fair bit of
4 creativity because I don't think the data in-hand
5 can follow the presented rubric for how surrogates
6 are traditionally validated, so just to encourage
7 creativity from all stakeholders.

8 DR. PAPPO: Thank you very much.

9 Any additional comments for question
10 number 5?

11 Sorry. Go ahead.

12 DR. KRAUS: Albert Kraus, industry
13 representative. From all the discussion and my
14 view of it, I think there is sufficient evidence to
15 study it further. And I do agree, I think, that we
16 won't achieve full Prentice criteria for absolute
17 determination of surrogate criteria, which as FDA
18 kind of highlighted, almost never happens. Most of
19 these surrogate examples, even full surrogate
20 examples I'm aware of in the drug review process,
21 kind of didn't quite go that way. It was a
22 judgment, and it was a judgment sometimes in areas

1 with huge patient populations.

2 So in this case, with very sparse patient
3 populations and sparse data, I think judgments
4 would be needed, adequacy and determination of
5 relationships needed, et cetera, et cetera. I
6 thought I'd just want to mention that because, at
7 least from a sponsor's standpoint thinking about
8 pharmaceutical company medicines and doing studies,
9 it's very challenging when we get these rare areas
10 to do some of the studies we might optimally want
11 to design in any kind of time frame, which all of
12 you around the phone are involved with those, so
13 you know that.

14 But I'm just kind of stating the obvious
15 there; that, therefore, we have to find ways to do
16 the right thing for the patient rather than just
17 throw our hands in the air. So that's just my
18 comment.

19 DR. PAPP0: Thank you very much.

20 Dr. Glade Bender?

21 DR. GLADE BENDER: I just want to validate
22 all that has been said, especially by Dr. DuBois

1 and Dr. Bagatell. I think the people who are most
2 involved in designing this research are well aware
3 of the limitations, and one of the limitations has
4 been the lack of a clinical trial that's really set
5 up to collect these data in a rigorous way. So
6 there's certainly interest in doing that, and it is
7 certainly feasible to do that.

8 Then I would also urge creativity about
9 maybe internal to the trial, an intermediate step
10 of potential validation, and even an interim
11 analysis of whether the surrogate is looking like
12 the standard, and somewhere in the middle even. I
13 don't know if such a thing exists, but I think
14 creative minds could come up with a way, and that
15 the time is now to do so.

16 DR. PAPP0: Thank you very much for your
17 comment.

18 I don't see any other hands, so I'm going to
19 try to summarize the discussion of question
20 number 5. I think there's definitely a lot of
21 interest in pursuing this endpoint. Some of the
22 members believe that there's already sufficient

1 evidence to support future efforts exploring
2 end-of-induction responses as a clinical endpoint.
3 Other members feel that some of the data is still
4 evolving, and we need to continue to explore this
5 endpoint, but we also need to make decisions based
6 on the data that we have today.

7 It will be crucial to be sure that we have
8 accurate follow-up on these patients so that we can
9 have better clinical correlates. And finally, we
10 have to become creative in our study design to
11 better evaluate these potential surrogate
12 endpoints.

13 Did I leave anything out?

14 (No response.)

15 DR. PAPPO: If not, we will now proceed with
16 the FDA closing remarks from Dr. Martha Donoghue.

17 **Closing Remarks - Martha Donoghue**

18 DR. DONOGHUE: Thank you so much, Dr. Pappo.

19 On behalf of FDA, I'd really like to thank
20 everyone who gave presentations today and took part
21 in the discussion. I really wish I could pass the
22 podium over to Leona Knox right now because I think

1 she would probably do a better job of summing this
2 meeting up than I can.

3 What resonated with me was the commitment in
4 the community to continue to work together to
5 figure out the best way to make clinical trial
6 design and clinical development overall more
7 efficient for patients with high-risk
8 neuroblastoma. I'm thinking about Gigi McMillan's
9 comment -- I think it was your comment, but correct
10 me if I'm wrong -- that using end-of-induction
11 response is the ethical thing to do for patients.
12 I certainly think that I'll walk away keeping that
13 in my head.

14 We also talked a great deal about the
15 strength of evidence for use of end-of-induction
16 response as a prognostic factor in predicting
17 outcomes in patients with high-risk neuroblastoma,
18 and talked a bit about the complexity of assessing
19 that endpoint; and given the strength of the
20 evidence that we have in hand, it may or may not be
21 validated for use as a surrogate endpoint
22 reasonably likely to predict clinical benefit, and

1 the traditional methodology that we can use, and
2 have used in the past, to validate it across the
3 spectrum of validation as well, and the limitations
4 perhaps that we have, given the rarity of high-risk
5 neuroblastoma.

6 I was really heartened to hear so many
7 comments expressing commitment to using this
8 endpoint and continuing to follow patients so that
9 we better understand use of end-of-induction
10 response and its various components, and the
11 correlation between end-of-induction response and
12 event-free survival and overall survival.

13 We also spoke -- and I know we at FDA and
14 our colleagues at the EMA are fully committed to
15 early discussions and continued discussions -- on
16 use of this endpoint in our regulatory decision
17 making, as best we can, to help do what we can to
18 approve drugs that are safe and effective earlier
19 for the treatment of patients with high-risk
20 neuroblastoma.

21 I guess I will leave it at there. I think
22 we have lots of reasons for optimism, and now it's

1 time for us to roll up our sleeves and continue to
2 work together on this.

3 I will see if Dr. Reaman would like to add
4 anything.

5 (No response.)

6 DR. PAPP0: Greg, do you want to add
7 anything?

8 DR. REAMAN: Yes. Thank you, Alberto.
9 Thanks, Martha.

10 No, I think you've adequately summed it up.
11 Again, I would just like to thank the committee
12 members and the presenters. I think this was
13 enlightening. I think at this point now, we have
14 work to do. I think we've seen some impressive
15 results about the prognostic significance, but
16 whether this really has the predictive ability to
17 be used as a surrogate marker, I think it's going
18 to require additional work, and hopefully work that
19 we all continue to do together because this does
20 require multistakeholder investment of time and
21 effort.

22 I again would thank the input of our patient

1 advocates and patient representatives, which is
2 really the only reason why we're here and why we're
3 doing what we do, so thank you all again very much.
4 This is just the beginning of the story, so much
5 work to be done. Thank you.

6 **Adjournment**

7 DR. PAPP0: Thank you, Dr. Donoghue and
8 Dr. Reaman. I also want to thank the FDA staff for
9 making this meeting a success. There are a lot of
10 people that worked very hard to make this happen.
11 I just wanted to highlight Joanna Malsch, and then
12 of course Joyce Yu that kept me on track. And I
13 want to thank all of you for your support and your
14 attention, and I hope that we can get together next
15 year in person.

16 We will now adjourn the meeting. Thank you
17 very much.

18 (Whereupon, at 2:52 p.m., the meeting was
19 adjourned.)
20
21
22