

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

FDA CDER & NIH NCATS WORKSHOP

Regulatory Fitness in Rare Disease Clinical Trials

Virtual Workshop

Day 1

Monday, May 16, 2022

9:00 a.m. to 4:00 p.m.

1 **Meeting Roster**

2 **Philip John (P.J.) Brooks, Ph.D.**

3 Acting Director, Division of Rare Diseases Research

4 Innovation

5 National Center for Advancing Translational

6 Sciences (NCATS)

7 National Institutes of Health (NIH)

8

9 **Katie Donohue, M.D., M.Sc.**

10 Director, Division of Rare Diseases & Medical

11 Genetics (DRDMG)

12 Office of Rare Diseases, Pediatrics, Urologic &

13 Reproductive Medicine (ORPURM)

14 Office of New Drugs (OND)

15 Center for Drug Evaluation and Research (CDER)

16 U.S. Food and Drug Administration (FDA)

17

18 **Sheila Farrell, M.D.**

19 Medical Officer

20 DRDMG, ORPURM, OND, CDER, FDA

21

22

1 **Raphaela T. Goldbach-Mansky, M.D., M.H.S.**

2 Senior Investigator & Chief, Translational  
3 Autoinflammatory Diseases Section  
4 Laboratory of Clinical Immunology & Microbiology  
5 (LCIM)  
6 National Institute of Allergy & Infectious Diseases  
7 (NIAID), NIH

8  
9 **Leslie B. Gordon, M.D., Ph.D.**

10 Professor of Pediatrics Research  
11 Warren Alpert Medical School of Brown University  
12 and Hasbro Children's Hospital and  
13 Medical Director and Co-Founder  
14 The Progeria Research Foundation

15  
16 **Andrea L. Gropman, M.D.**

17 Principal Investigator, Urea Cycle Disorders  
18 Consortium  
19 Rare Diseases Clinical Research Network (RDCRN) and  
20 Professor and Division Chief  
21 Neurodevelopmental Pediatrics and Neurogenetics  
22 Children's National Hospital

1     **Brendan H.L. Lee, M.D., Ph.D.**

2     Principal Investigator, Brittle Bone Disorders  
3     Consortium, RDCRN and  
4     Professor and Chair, Molecular and Human Genetics  
5     Baylor College of Medicine

6

7     **Kerry Jo Lee, M.D.**

8     Associate Director for Rare Diseases  
9     Rare Diseases Team, DRDMG, ORPURN, OND, CDER, FDA

10

11    **Matthias Kretzler, M.D.**

12    Principal Investigator, Nephrotic Syndrome Study  
13    Network (NEPTUNE), RDCRN and  
14    Professor of Internal Medicine-Nephrology and  
15    Computational Medicine & Bioinformatics  
16    University of Michigan Medical School

17

18    **Janet Maynard, M.D., M.H.S.**

19    Director, ORPURN  
20    OND, CDER, FDA

21

22

1 **Elizabeth A. Ottinger, Ph.D.**

2 Deputy Director of Programs & Head of Project

3 Management

4 Division of Preclinical Innovation, NCATS, NIH

5  
6 **Jennifer Rodriguez Pippins, M.D., M.P.H.**

7 Clinical Advisor

8 Office of New Drug Policy, OND, CDER, FDA

9  
10 **Bitia Shakoory, M.D.**

11 Study Coordinator, Translational Autoinflammatory

12 Diseases Section

13 LCIM, NIAID, NIH

14  
15 **Jeff Siegel, M.D.**

16 Director, Office of Drug Evaluation Sciences

17 OND, CDER, FDA

18  
19 **Tiina K. Urv, Ph.D.**

20 Program Director, Division of Rare Diseases

21 Research Innovation

22 NCATS, NIH

1     **Jie (Jack) Wang, Ph.D.**

2     Clinical Pharmacology Team Leader

3     Division of Translational & Precision Medicine

4     Office of Clinical Pharmacology

5     Office of Translational Sciences (OTS)

6     CDER, FDA

7

8     **Yan Wang, Ph.D.**

9     Statistical Team Leader, Division of Biometrics IV

10    Office of Biostatistics, OTS, CDER, FDA

11

12

13

14

15

16

17

18

19

20

21

22

C O N T E N T S	
AGENDA ITEM	PAGE
<b>Welcoming Remarks</b>	
Kerry Jo Lee, MD	11
Philip John Brooks, PhD	14
<b>Session 1: Approach to Demonstrating Substantial Evidence of Effectiveness for Rare Disease Drug Products</b>	
Sheila Farrell, MD (Moderator)	15
Approach to Demonstrating Substantial Evidence of Effectiveness for Rare Disease Drug Development: Overview Considerations	
Janet Maynard, MD, MHS	16
Demonstrating Substantial Evidence of Effectiveness	
Jennifer Rodriguez Pippins, MD, MPH	36
Role of Translational Science in Rare Disease Drug Development	
Jeff Siegel, MD	51
Questions and Answers	62

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	<b>Session 2: Case Studies - An Academic</b>	
4	<b>Perspective</b>	
5	Elizabeth Ottinger, PhD (Moderator)	99
6	Hutchinson-Gilford Progeria Syndrome:	
7	An Ultra-Rare Disease Pathway to	
8	Drug Approval	
9	Leslie Gordon, MD, PhD	101
10	Supplemental Biologics License	
11	Application for Anakinra and	
12	Rilonacept in DIRA	
13	Raphaela Goldbach-Mansky, MD, MHS	121
14	Baricitinib for Autoinflammatory	
15	Interferonopathies	
16	Bitá Shakoory, MD	133
17	Questions and Answers	150
18		
19		
20		
21		
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	<b>Session 3: Core Principles for</b>	
4	<b>Clinical Trials</b>	
5	Katie Donohue, MD, MSc (Moderator)	156
6	Dose Optimization for Rare Diseases	
7	Jie (Jack) Wang, PhD	157
8	Selection of Endpoints	
9	Katie Donohue, MD, MSc	171
10	Statistical Considerations in Rare Disease	
11	Clinical Trials	
12	Yan Wang, PhD	192
13	Questions and Answers	209
14		
15		
16		
17		
18		
19		
20		
21		
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	<b>Session 4: Case Studies - Real World</b>	
4	<b>Experiences</b>	
5	Tiina Urv, PhD (Moderator)	225
6	From Biomarkers to Study to Basket:	
7	Trials and Tribulations of Advancing	
8	Science from the Bedside or Bench to	
9	Trials: Two Models in Academia	
10	Andrea Gropman, MD, FAAP, FACMG, FANA	226
11	Brittle Bone Disorders Consortium:	
12	Translating Discoveries to Therapy and	
13	Clinical Trial Readiness	
14	Brendan Lee, MD, PhD	244
15	Resolving Disease Heterogeneity for	
16	Targeted Therapies in Rare Glomerular	
17	Disease: from Syndromic Disease Classes to	
18	Precision Medicine Trials	
19	Matthias Kretzler, MD	265
20	Questions and Answers	283
21	Adjournment	295
22		

P R O C E E D I N G S

(9:00 a.m.)

**Welcoming Remarks - Kerry Jo Lee**

DR. K.J. LEE: Hello. My name is Dr. Kerry Jo Lee. I am the associate director for Rare Diseases in the Division of Rare Diseases and Medical Genetics, and the lead of the Rare Diseases Team at the Center for Drug Evaluation and Research, or CDER, here at the FDA. I am very excited to welcome you to our Regulatory Fitness in Rare Disease Clinical Trials Workshop, jointly presented by CDER and the National Center for Advancing Translational Sciences at the NIH.

CDER ensures that safe and effective drugs are available to improve the health of people in the United States and regulates over-the-counter and prescription drugs, including some biological therapeutics. We do not regulate gene therapies or vaccines. Those are in the Center for Biologics Evaluation and Research.

So why are we here today? There are over 7,000 rare diseases and conditions that

1 significantly impact patients and families.  
2 Despite an increase in novel rare disease  
3 approvals, there is still a tremendous unmet need  
4 for FDA-approved treatments for rare diseases and  
5 conditions. Rare disease drug development is  
6 complex; there can be limitations in our  
7 understanding of the natural history of a disease;  
8 challenges with endpoint selection; and the fact  
9 that small populations can also lead to challenges  
10 with trial design and interpretation.

11 All of us are here over the next day and a  
12 half to learn more about the fundamentals, best  
13 practices, and lessons learned when it comes to  
14 rare disease drug development that hopefully can  
15 help us in our work together to overcome these  
16 challenges.

17 This workshop focuses on academic  
18 investigators and those looking to learn how to  
19 bridge the gap between scientific discovery,  
20 academic investigation, and the regulatory aspects  
21 of drug development. Today's speakers from the FDA  
22 will explore topics such as adequate and well

1 controlled trials and core principles and  
2 fundamentals of trial design and interpretation,  
3 including analysis and dose ranging to maximize the  
4 effective use of small populations. You'll also  
5 hear from speakers in academia who will share their  
6 experiences.

7 As a reminder, this is not a forum to  
8 address specific questions about applications, but  
9 rather a forum to promote general understanding of  
10 the fundamental principles necessary to develop  
11 safe and effective therapies.

12 Some of you may have heard of CDER's new  
13 Accelerating Rare disease Cures program, or CDER's  
14 ARC program, whose mission is to drive scientific  
15 and regulatory innovation and engagement to  
16 accelerate the availability of treatments for  
17 patients with rare diseases. This event is an  
18 example of a type of engagement we really hope to  
19 support within the program, and we are so excited  
20 to be here to participate in what we hope will be  
21 just one of many future events.

22 And now I will turn it over to Dr. P.J.

1 Brooks, the acting director of the Division of Rare  
2 Diseases Research Innovation at the National Center  
3 for Advancing Translational Sciences to complete  
4 your welcome to the program today.

5 Dr. Brooks?

6 DR. BROOKS: Great. Thank you, Kerry Jo.

7 On behalf of NCATS and NIH, it's also my  
8 pleasure to welcome you to this meeting. As you  
9 know, at NCATS, our major focus is on translational  
10 science and improving the process of translation  
11 for all diseases, and a key aspect of that is  
12 understanding how to navigate the regulatory  
13 process.

14 So we were very pleased to have the  
15 opportunity to co-organize this meeting with our  
16 colleagues at the FDA, and very much look forward  
17 to the discussions, and clarification, and learning  
18 about the best ways to navigate the regulatory  
19 process.

20 So without further ado then, I would like to  
21 turn it over to Dr. Sheila Farrell from the  
22 Division of Rare Diseases and Medical Genetics in

1 the Office of New Drugs at FDA, who will be  
2 moderating the first session.

3 Sheila?

4 **Session 1**

5 **Sheila Farrell - Moderator**

6 DR. FARRELL: Thank you.

7 Good morning and welcome. I'm Dr. Sheila  
8 Farrell. I'm a medical officer in the Division of  
9 Rare Diseases and Medical Genetics at the Food and  
10 Drug Administration, and I'm the moderator for  
11 Session 1.

12 In this session, we have three speakers from  
13 the FDA Center for Drug Evaluation and Research who  
14 will be discussing different aspects of the  
15 approach to demonstrating substantial evidence of  
16 effectiveness for rare disease drug development.  
17 After all three speakers have given their  
18 presentations, we will have a question and answer  
19 period. Please submit your questions by clicking  
20 on the "Ask a Question" icon on the bottom right of  
21 the webcast player interface. We will try to get  
22 to as many of these questions as possible.

1           Now, without further ado, I'd like to  
2     introduce our first speaker. Dr. Janet Maynard is  
3     the director of the Office of Rare Diseases,  
4     Pediatrics, Urologic and Reproductive Medicine in  
5     the Office of New Drugs. The title of her  
6     presentation is the Approach to Demonstrating  
7     Substantial Evidence of Effectiveness for Rare  
8     Disease Drug Development: Overview Considerations.

9           Dr. Maynard?

10                           **Presentation - Janet Maynard**

11           DR. MAYNARD: Thank you so much, Sheila.

12           Good morning. My name is Janet Maynard, and  
13     I'm the director of CDER's Office of Rare Diseases,  
14     Pediatrics, Urologic and Reproductive Medicine. In  
15     terms of my background, I'm a rheumatologist.  
16     Prior to joining FDA, I performed my fellowship,  
17     and then joined the faculty at Johns Hopkins  
18     Hospital, where I participated in research, patient  
19     care, and education. As a rheumatologist, I have  
20     helped care for patients with both common and rare  
21     diseases, which often have profound impacts on  
22     patients and families.

1           To tackle challenging public health issues,  
2           it is critical that we collaborate to advance  
3           public health for all patients. It is my pleasure  
4           to provide an overview of considerations related to  
5           demonstrating substantial evidence of effectiveness  
6           for rare disease drug development.

7           Next slide, please.

8           This is a standard disclaimer and disclosure  
9           slide. This presentation is not intended to convey  
10          official U.S. FDA policy, and all the materials  
11          presented are in the public domain.

12          Next slide, please.

13          Here is an outline for our discussion this  
14          morning. We will review FDA's regulatory  
15          framework; consider rare disease progress and  
16          challenges; discuss rare disease trial designs; and  
17          end with considerations related to innovation in  
18          drug development.

19          Next slide, please.

20          As background, the FDA's Center for Drug  
21          Evaluation and Research, or CDER, performs an  
22          essential public health task by making sure safe

1 and effective drugs are available to improve the  
2 health of people in the United States. An  
3 efficient predictable approval process is key to  
4 the development of innovative drugs.

5 Next slide, please.

6 It is important to consider the regulatory  
7 framework within which drugs are approved. To be  
8 approved for marketing, a drug must be safe and  
9 effective for its intended use. In terms of  
10 efficacy, there must be substantial evidence  
11 consisting of adequate and well-controlled  
12 investigations that the drug product will have the  
13 effect it purports or is represented to have under  
14 the proposed labeled conditions of use. A drug's  
15 effect must be clinically meaningful to patients.

16 In terms of safety, recognizing that all  
17 drugs have some ability to cause adverse effects,  
18 the safety of a drug is assessed by determining  
19 whether the benefits outweigh its risks. Safety is  
20 considered in relation to the condition treated,  
21 the efficacy purported, and the ability to mitigate  
22 the risk.

1           Next slide, please.

2           For product approval, data must support that  
3 the benefits of a product outweigh its risks.  
4 Benefits can be assessed by whether the product has  
5 a positive impact on how a patient feels,  
6 functions, or survives. Being able to describe  
7 clinical benefit is essential to making a decision  
8 about the favorability of the benefit-risk profile  
9 of a product.

10           Benefit-risk assessment considers the  
11 extensive evidence of safety and effectiveness  
12 submitted by a sponsor in an application, as well  
13 as other factors, including the nature and severity  
14 of the conditions the drug is intended to treat;  
15 the benefits and the risks of other therapies for  
16 the same condition; and any risk management tools  
17 that might be necessary.

18           Benefit-risk assessment in FDA's drug  
19 regulatory context is making an informed judgment  
20 as to whether the benefits, with their  
21 uncertainties of the drug, outweigh the risks with  
22 their uncertainties and approaches in managing the

1 risk under the conditions of use described in the  
2 approved product labeling.

3 Next slide, please.

4 Transitioning from a regulatory framework to  
5 rare disease considerations, we are seeing progress  
6 in rare disease drug development. Between 2015 and  
7 2021, CDER approved 160 novel drugs for rare  
8 diseases, which was approximately 50 percent of all  
9 novel drugs that CDER approved. In addition, over  
10 600 treatments for rare diseases have been FDA  
11 approved since the passage of the Orphan Drug Act.  
12 However, despite the significant progress, there is  
13 still significant work that needs to be done. Of  
14 the approximately 7,000 rare diseases, a vast  
15 majority lack an FDA-approved treatment.

16 Next slide, please.

17 This figure shows the progress in rare  
18 disease drug development over time. Specifically,  
19 this figure shows the number of novel drug  
20 approvals from 2010 to 2021. The columns are  
21 divided into the number of orphan novel approvals  
22 in green and the number of non-orphan novel

1 approvals in blue. The purple line indicates the  
2 percentage of orphan drug approval of all approvals  
3 in a specific year.

4 Since 2010, the number of orphan approvals  
5 has risen dramatically in the United States. In  
6 addition, the percentage of all approvals that are  
7 orphan approvals has also increased. In 2021, CDER  
8 continued to build on our previously successful  
9 years and approved 26 orphan novel drugs. That's  
10 52 percent of all novel drug approvals by CDER in  
11 2021.

12 In addition to novel approvals, every year  
13 CDER also approves additional uses for already  
14 FDA-approved drugs that help patients with rare  
15 diseases. These are called supplemental approvals.  
16 Our novel and supplemental approvals address a wide  
17 range of rare diseases that are often serious, and  
18 in some cases life-threatening.

19 Next slide, please.

20 Despite this progress, rare disease product  
21 development remains challenging. To help overcome  
22 these challenges, it is critical that we utilize

1 strategies and collaboration to facilitate optimal  
2 rare disease product development.

3 Next slide, please.

4 There are many challenges in rare disease  
5 product development. These challenges include  
6 small and sometimes very small patient populations.  
7 There can be genotypic and phenotypic heterogeneity  
8 within a disease. The natural history is,  
9 unfortunately, often poorly understood. These  
10 diseases are often serious and life-threatening and  
11 can be progressive with a childhood onset. There  
12 can be a reluctance at times to randomize to  
13 placebo.

14 In addition, sometimes we lack drug  
15 development tools, such as established efficacy  
16 endpoints. In addition, there may be limited, if  
17 any, regulatory precedent. It is important to  
18 incorporate regulatory flexibility while upholding  
19 our regulatory standards.

20 Next slide, please.

21 A key aspect of supporting approval is  
22 establishing substantial evidence of effectiveness.

1 This is defined as "evidence consisting of adequate  
2 and well-controlled investigations," including  
3 clinical investigations, by qualified experts by  
4 scientific training and experience to evaluate the  
5 effectiveness of the drug involved on the basis of  
6 which it could fairly and reasonably be concluded  
7 by such experts that the drug will have the effect  
8 it purports or is represented to have under the  
9 conditions of use prescribed, recommended, or  
10 suggested in the labeling or the proposed labeling.

11 Considerations related to substantial  
12 evidence of effectiveness will be covered in  
13 additional detail by Dr. Jennifer Pippins.

14 Next slide, please.

15 Substantial evidence of effectiveness is  
16 derived from adequate and well-controlled studies.  
17 These studies have the following characteristics.  
18 There is a clear statement of the objectives of the  
19 investigation and a summary of a proposed or actual  
20 method of analysis in the protocol for the study  
21 and in the report of its results.

22 The study uses a design that permits a valid

1 comparison with a control to provide a quantitative  
2 assessment of drug effect. There is adequate  
3 assurance that the subjects have the condition  
4 being studied. In addition, there are adequate  
5 measures that are taken to minimize bias on the  
6 part of the subject, observers, and analysts of the  
7 data, and assure comparability of treatment groups.

8 In addition, there are well-defined and  
9 reliable measures of assessing treatment response,  
10 and there's an analysis of results that is adequate  
11 to assess the effects of the drug.

12 Next slide, please.

13 The key aspect of today's workshop is to  
14 provide an overview of the fundamentals of drug  
15 development. Thus, we will first review frequently  
16 seen limitations or issues that we commonly  
17 encounter with rare disease trial design proposals,  
18 and then we'll consider strategies to address  
19 these.

20 Some common issues that we have seen include  
21 a non-randomized design when a randomized trial is  
22 feasible and ethical. In addition, we've seen

1 significant biases; for example, an external  
2 control or lack of blinding that cannot be  
3 adequately overcome in a specific drug development  
4 program.

5           Sometimes there's a limited understanding of  
6 the disease natural history to inform the trial  
7 design, including the study population, trial  
8 duration, and endpoints. Often, we see inadequate  
9 dose exploration, and sometimes a trial may be too  
10 short to detect a treatment effect, especially for  
11 slowly progressive diseases. If an endpoint is  
12 poorly chosen or a disease is very heterogeneous,  
13 sometimes we have to think creatively about  
14 endpoints to make sure that they are meaningfully  
15 assessing benefits.

16           Lastly, in some diseases that require  
17 dietary management, there can be limitations in the  
18 proposal if the diet is not optimized or  
19 standardized for those specific diseases.

20           Next slide, please.

21           These types of problems can lead to  
22 suboptimal inefficient trial design and biases. As

1 a result, the trial may fail to detect a treatment  
2 effect that exists or may show a treatment effect  
3 when there isn't one.

4 Next slide, please.

5 At this workshop, we will consider  
6 strategies to address some of these challenges.  
7 For example, it's important to understand the  
8 disease natural history as early and as  
9 comprehensively as possible. Also, it's important  
10 to utilize trial proposals that are designed to  
11 meet their stated objectives. We encourage  
12 frequent and early interaction with FDA and a  
13 specific review division that will be reviewing the  
14 protocol.

15 In addition, it's important to await FDA's  
16 review and comment before initiating a pivotal  
17 trial. Also, we should minimize uncertainties that  
18 we can control such as ensuring excellent trial  
19 conduct.

20 Next slide, please.

21 Rare disease stakeholders such as patients,  
22 families, and researchers can provide key elements

1 that can enable research and drug development for a  
2 rare disease. For example, stakeholders can help  
3 bring patients and families to engage with academic  
4 scientists. In addition, stakeholders can support  
5 the development of natural history studies and  
6 registries, which can provide both natural history  
7 data and facilitate the enrollment in potential  
8 future clinical trials.

9 This also facilitates engagement of other  
10 stakeholders such as industry and academia that may  
11 be interested in working in a specific disease  
12 area. In addition, stakeholders are very important  
13 in setting up patient-focused drug development or  
14 patient listening sessions, which can help develop  
15 greater clarity on what matters most to patients.

16 Next slide, please.

17 In terms of trial design, randomization and  
18 blinding are critical features for reducing bias.  
19 They should be the default approach when feasible  
20 and ethical. They are essential for detecting  
21 small but clinically meaningful effects. They are  
22 also very important for subjective or

1 effort-dependent endpoints.

2           It is important to note that there are trial  
3 design approaches that can minimize exposure to  
4 placebo; for example, utilizing dose response,  
5 delayed start, randomized withdrawal, or crossover  
6 designs. In addition, we have seen innovative  
7 proposals related to adaptive designs, master  
8 protocols, unequal randomization, and use of rescue  
9 criteria.

10           Next slide, please.

11           For proposals with non-randomized control, a  
12 major limitation is bias due to lack of  
13 randomization and blinding. Important questions  
14 include whether the treatment and control groups  
15 are comparable; if the endpoints are comparably  
16 assessed or impacted by lack of blinding; and is  
17 the control group comparable in terms of  
18 concomitant treatments, background standard of  
19 care, and endpoints available?

20           These should be considered when  
21 randomization is infeasible or unethical, also if  
22 the treatment effect is anticipated to be large,

1 and if the usual course of the disease is highly  
2 predictable.

3 Next slide, please.

4 FDA encourages innovative trial designs and  
5 creative thinking. Some examples include adaptive  
6 designs, master protocols, and novel approaches to  
7 endpoints. Regardless of the approach,  
8 prespecified analyses with type 1 error control are  
9 important to avoid data dredging and cherry  
10 picking.

11 Next slide, please.

12 The Food and Drug Administration is  
13 committed to facilitating the development of  
14 innovative, safe, and effective treatments and  
15 cures for patients who need them. I will discuss  
16 several select ways that FDA supports innovation in  
17 drug development, including patient-focused drug  
18 development; guidance documents; the Model-Informed  
19 Drug Development and Complex Innovative Trial  
20 Design Pilot programs; CDER's Rare Diseases Team;  
21 and CDER's Accelerating Rare disease Cures program.

22 It's important to remember that enhanced

1 flexibility and an efficient approval process have  
2 come while preserving our gold standard of safety  
3 and efficacy. At the end of the day, innovative  
4 therapies are only helpful to patients if they work  
5 and are demonstrated to be safe. So it is  
6 imperative that we ensure the right balance among  
7 patient access, sound science, and safe and  
8 effective products.

9 Next slide, please.

10 Establishing the therapeutic context is an  
11 important aspect of our benefit-risk assessments.  
12 Patients are uniquely positioned to inform our  
13 understanding of this context. PFDD, or  
14 patient-focused drug development, is a systematic  
15 approach to help ensure that patients' experiences,  
16 perspectives, needs and priorities are captured and  
17 meaningfully incorporated into drug development and  
18 evaluation.

19 PFDD efforts include FDA-led PFDD meetings;  
20 externally-led PFDD meetings; the PFDD  
21 Methodological Guidance Series; and the Clinical  
22 Outcomes Assessment or COA grant program. During

1 this workshop, you'll hear additional details  
2 regarding FDA's patient-focused drug development  
3 program.

4 Next slide, please.

5 Another mechanism to support innovation is  
6 through guidance documents that represent FDA's  
7 current thinking on a particular topic. These  
8 guidance documents are intended to provide guidance  
9 to different individuals depending on the content  
10 of the guidance. In the context of drug  
11 development, guidance is intended to assist drug  
12 developers in the development of drug products for  
13 the treatment of a specific disease or a type of  
14 disease, however, guidance documents are not  
15 roadmaps, as each development program has unique  
16 considerations.

17 Next slide, please.

18 FDA has issued several recent guidances that  
19 are relevant to the rare disease community. First,  
20 FDA issued a draft guidance for industry, entitled  
21 Real World Data: Assessing Registries to Support  
22 Regulatory Decision Making for Drugs and Biological

1 Products. This guidance was issued as part of the  
2 Real-World Evidence program and to satisfy, in  
3 part, the mandate under the federal Food, Drug, and  
4 Cosmetic Act to issue guidance about the use of  
5 real-world evidence, or RWE, in regulatory decision  
6 making.

7 This guidance provides sponsors and other  
8 stakeholders with considerations when either  
9 proposing to design a registry or using an existing  
10 registry to support regulatory decision making  
11 about a drug's effectiveness or safety.

12 In addition, FDA has taken steps aimed at  
13 advancing the development of individualized  
14 medicines to treat genetic diseases. Specifically,  
15 FDA has issued four draft guidances on topics  
16 related to individualized, investigational,  
17 antisense oligonucleotide or ASO drugs. These  
18 guidances cover topics related to clinical  
19 recommendations; chemistry, manufacturing, and  
20 control recommendations; administrative and  
21 procedural recommendations; and nonclinical  
22 testing.

1           Next slide, please.

2           In addition to guidance documents, FDA has  
3 other programs that are intended to facilitate drug  
4 development. For example, the Complex Innovative  
5 Design Pilot Meeting program is intended to support  
6 the goal of facilitating and advancing use of  
7 complex adaptive, Bayesian, and other novel  
8 clinical trial designs.

9           In addition, the Model-Informed Drug  
10 Development Pilot program is intended to facilitate  
11 the development and application of exposure-based  
12 biological and statistical models derived from  
13 preclinical and clinical data sources, referred to  
14 as MIDD approaches.

15           Next slide, please.

16           In addition to the innovative programs  
17 mentioned thus far, CDER has a Rare Diseases Team  
18 to help facilitate rare disease drug development.  
19 Established in PDUFA V, CDER's Rare Diseases Team  
20 facilitates, supports, and accelerates the  
21 development of drugs and therapeutic biologics for  
22 rare diseases.

1           The Rare Diseases Team is a  
2 multidisciplinary team located in the Division of  
3 Rare Diseases and Medical Genetics in the Office of  
4 Rare Diseases, Pediatrics, Urologic and  
5 Reproductive Medicine. Select activities include  
6 promoting advice to other review divisions on their  
7 rare disease programs; promoting rare disease  
8 consistency across CDER's Office of New Drugs, or  
9 OND; leading cross-cutting OND rare disease  
10 guidances, policies, strategic research, and  
11 workshops; developing rare disease training and  
12 education; and engaging with internal and external  
13 stakeholders.

14           Next slide, please.

15           As mentioned by Dr. Kerry Jo Lee at the  
16 beginning of this workshop, CDER recently announced  
17 the launch of the new Accelerating Rare disease  
18 Cures or ARC program. The vision of CDER's ARC  
19 program is speeding and increasing development of  
20 effective and safe treatment options, addressing  
21 the unmet needs of patients with rare diseases.

22           The mission of CDER's ARC program is to

1 drive scientific and regulatory innovation and  
2 engagement to accelerate the availability of  
3 treatments for patients with rare diseases. This  
4 is a CDER-wide effort with leadership represented  
5 from several offices throughout the center. The  
6 program is managed by CDER's Rare Diseases Team.

7 In its first year, CDER's ARC program will  
8 focus on strengthening internal and external  
9 partnerships with stakeholders and will engage with  
10 external experts to help identify solutions for the  
11 challenges in rare disease drug development.

12 Next slide, please.

13 In conclusion, the development of safe and  
14 effective drugs is central to FDA's mission. Rare  
15 disease development can be challenging, and it's  
16 essential to engage with FDA early and often during  
17 your drug development program. It's also important  
18 to learn as much as possible about your rare  
19 disease to optimize trial design. Also, you should  
20 ensure that your trials are adequate and well  
21 controlled.

22 Lastly, collaboration is key to facilitating

1 rare disease drug development. We are so  
2 appreciative for your participation in today's  
3 workshop and look forward to the discussion. Thank  
4 you very much.

5 DR. FARRELL: Thank you, Dr. Maynard, for  
6 that excellent overview.

7 Now, I would like to introduce our second  
8 speaker. Dr. Jennifer Rodriguez Pippins is a  
9 clinical advisor in the Office of New Drug Policy.  
10 The title of her presentation is Demonstrating  
11 Substantial Evidence of Effectiveness.

12 Dr. Pippins?

13 **Presentation - Jennifer Rodriguez Pippins**

14 DR. PIPPINS: Good morning, and thank you  
15 for that introduction. As mentioned, I'm a  
16 clinical advisor in the Office of New Drug policy,  
17 and my current work is focused on issues pertaining  
18 to evidence of effectiveness.

19 Prior to coming to FDA in 2009, I trained in  
20 internal medicine at Brigham and Women's Hospital  
21 in Boston, Massachusetts, as well as in pediatrics  
22 at Massachusetts General Hospital and Boston's

1 Children's Hospital, where I cared for a range of  
2 patients, including those with rare disease. I'm  
3 very excited to have this opportunity to be with  
4 you to talk about demonstrating substantial  
5 evidence of effectiveness.

6 Next slide.

7 Here is our standard disclaimer slide.

8 Next slide.

9 Stepping back for a moment, I want to  
10 provide some historical context. Between 1938 and  
11 1962, drug manufacturers were only required by law  
12 to show that their drugs were safe. Over time,  
13 there was congressional concern about misleading  
14 and unsupported claims. Congress acted in 1962  
15 with amendments to the federal Food, Drug, and  
16 Cosmetic Act, otherwise known as the  
17 Kefauver-Harris amendments, which included a  
18 provision requiring manufacturers to establish  
19 effectiveness with substantial evidence before  
20 approval.

21 Next slide.

22 The 1962 amendments to the federal Food,

1 Drug, and Cosmetic Act specified that one of the  
2 grounds for rejecting an NDA is a lack of  
3 substantial evidence that the drug will have the  
4 effect it purports to have. Additionally, FDA has  
5 also generally considered substantial evidence of  
6 effectiveness to be necessary to support licensure  
7 of BLA under the PHS Act.

8 Next slide.

9 The 1962 amendments also defined for the  
10 first time substantial evidence of effectiveness to  
11 be evidence consisting of adequate and  
12 well-controlled investigations, including clinical  
13 investigations by experts qualified by scientific  
14 training and experience to evaluate the  
15 effectiveness of the drug involved on the basis of  
16 which it could fairly and responsibly be concluded  
17 by such experts that the drug will have the effect  
18 it purports or is represented to have under the  
19 conditions of use prescribed, recommended, or  
20 suggested in the labeling or proposed labeling  
21 thereof.

22 Next slide.

1           Requiring evidence consisting of adequate  
2           and well-controlled investigations was significant  
3           because prior to 1962, it was not unusual for drug  
4           manufacturers to make claims about their products  
5           based on other types of data.

6           The requirement for generating evidence to  
7           adequate and well-controlled investigations was  
8           truly novel. Notably, the amendments specified  
9           investigations. The law's plural wording has  
10          generally been interpreted as indicating the need  
11          for at least two adequate and well-controlled  
12          trials, each convincing on its own, and is based on  
13          the scientific concept of providing independent  
14          substantiation of results.

15          Next slide.

16          Fast-forwarding to 1997, FDAMA amended the  
17          federal Food, Drug, and Cosmetic Act to allow for  
18          FDA to determine that a single positive adequate  
19          and well-controlled trial plus confirmatory  
20          evidence can establish substantial evidence of  
21          effectiveness.

22          I want to underscore that this mechanism to

1 establish substantial evidence of effectiveness may  
2 not always be appropriate. Since FDA needs to make  
3 a determination, based on relevant science, that a  
4 single trial and confirmatory evidence are  
5 sufficient, sponsors who are interested in  
6 establishing substantial evidence of effectiveness  
7 using this approach should seek feedback from FDA  
8 as early in development as is possible.

9 Next slide.

10 I previously touched on the scientific  
11 concept of providing independent substantiation in  
12 the setting of two adequate and well-controlled  
13 trials. In the one trial plus confirmatory  
14 evidence paradigm, it is the confirmatory evidence  
15 that provides substantiation of or support for the  
16 results of a single trial. It's also important to  
17 note that while FDAMA introduced the one trial plus  
18 confirmatory evidence approach to establishing  
19 substantial evidence of effectiveness, the act does  
20 not include a definition of confirmatory evidence.

21 Next slide.

22 The remainder of this presentation will

1 describe in greater detail these approaches to  
2 demonstrating substantial evidence of  
3 effectiveness. The content in the following  
4 slides, unless otherwise noted, is from an  
5 important document that I want to draw your  
6 attention to, the Draft 2019 Guidance titled,  
7 Demonstrating Substantial Evidence of Effectiveness  
8 for Human Drug and Biologic Products. I will refer  
9 to this publicly available document as the Draft  
10 2019 Effectiveness Guidance.

11 Next slide.

12 This slide is the beginning of a figure that  
13 will serve as a visual summary of the Draft 2019  
14 Effectiveness Guidance's approach to discussing  
15 substantial evidence in effectiveness. It depicts  
16 the two different approaches I've presented thus  
17 far, adequate and well-controlled clinical  
18 investigations, plural, seated on the left, and one  
19 adequate and well-controlled investigation plus  
20 confirmatory evidence, seated on the right.

21 Next slide.

22 First, I will focus on the left side of the

1 figure, the adequate and well- controlled clinical  
2 investigations approach.

3 Next slide.

4 The adequate and well-controlled clinical  
5 investigation scenario can consist of either two  
6 trials, as I've already described, or one large  
7 multicenter trial considered to be the scientific  
8 and functional equivalent of two trials, and I will  
9 describe these scenarios further on the next few  
10 slides.

11 Next slide.

12 In the scenario where there are at least two  
13 adequate and well-controlled trials, the second  
14 trial allows for independent substantiation of the  
15 results of the first. It's important to note that  
16 substantiation is not necessarily the same as  
17 replication; in fact, it's often more persuasive to  
18 have two trials that are not identical; for  
19 example, two trials, using somewhat different study  
20 populations within the same proposed indication or  
21 two trials for the same disease with different but  
22 related endpoints.

1           It's also worth noting that the designation  
2 of phase itself is not critical, and the  
3 distinction between phase 2 and phase 3 may not  
4 always be clear. Regardless of phase, however, the  
5 trials that contribute to our finding of  
6 substantial evidence of effectiveness must be  
7 adequate and well controlled, as further described  
8 in regulation.

9           Next slide.

10           In some cases, a single, large, multicenter  
11 trial can be considered sufficient on its own to  
12 establish substantial evidence of effectiveness.  
13 This is distinct from the scenario of a single  
14 trial plus confirmatory evidence, which I'll  
15 discuss momentarily.

16           The scenario of a single trial alone is not  
17 specifically described in statute. The Draft 2019  
18 Effectiveness Guidance describes this scenario as a  
19 subset of the two adequate and well-controlled  
20 investigations approach, with the rationale that  
21 under certain circumstances there is no meaningful  
22 difference between the strength of evidence

1 provided by a single, large, multicenter trial and  
2 that provided by two smaller trials. Essentially,  
3 the large multicenter trials are considered both  
4 scientifically and legally to be multiple trials.

5 Next slide.

6 There are caveats to when such an approach  
7 ought to be acceptable, as outlined on this slide.  
8 The trial should demonstrate an effect that is  
9 clinically meaningful and statistically very  
10 persuasive on an endpoint such as mortality, a  
11 severe or irreversible morbidity, or prevention of  
12 disease with a potentially serious outcome.

13 A second trial might be impractical or  
14 unethical. Also, results are not driven by any  
15 single site; there are consistent effects across  
16 different endpoints and subgroups. Additionally,  
17 trial conduct must be thoroughly examined and found  
18 to be of high quality. It should be noted that  
19 negative findings from other trials could weaken  
20 the overall strength of the evidence and  
21 potentially might jeopardize such an approach.

22 Next slide.

1           Returning to our figure, I'll now focus on  
2 the right side --

3           Next slide.

4           -- and the one adequate and well controlled  
5 clinical investigation plus confirmatory evidence  
6 approach.

7           Next slide.

8           In some cases, FDA may determine that one  
9 adequate and well-controlled clinical investigation  
10 plus confirmatory evidence can demonstrate  
11 substantial evidence of effectiveness. As  
12 previously noted in this scenario, the confirmatory  
13 evidence, instead of a second adequate and  
14 well-controlled investigation, provides the  
15 substantiation of results from the single trial.

16           The Draft 2019 Effectiveness Guidance  
17 identifies factors FDA will consider when  
18 determining if such an approach is appropriate.  
19 These include such things as the persuasiveness of  
20 the single trial, the robustness of the  
21 confirmatory evidence, disease considerations, and  
22 whether it's ethical and/or practical to conduct a

1 second trial.

2 As I mentioned previously, sponsors  
3 considering such approach to demonstrate  
4 substantial evidence of effectiveness should  
5 discuss their intentions with FDA early on in  
6 development.

7 Next slide.

8 The Draft 2019 Effectiveness Guidance  
9 provides some examples of the types of data that  
10 may provide confirmatory evidence. These include  
11 clinical trial data for the drug in a closely  
12 related indication; mechanistic data; additional  
13 data from the natural history of disease; and  
14 scientific knowledge about the effectiveness of  
15 other drugs in the same class.

16 Next slide.

17 Having described approaches to demonstrate  
18 substantial evidence of effectiveness, I will end  
19 this presentation with a discussion of how FDA can  
20 exercise flexibility in this area. The Draft 2019  
21 Guidance discusses this topic in some detail.  
22 Before presenting that content, however, I want to

1 turn back to statute and regulation for a moment.

2 First, statute. The statutory standard for  
3 substantial evidence of effectiveness includes an  
4 element of expert judgment. It says that experts,  
5 FDA, must make a conclusion about the data. FDA  
6 must make a determination that substantial evidence  
7 of effectiveness has been demonstrated.

8 Next slide.

9 The regulation that I'd like to direct you  
10 to is from the Code of Federal Regulations 314.105,  
11 which explains that the wide range of drug products  
12 and their indications requires FDA to exercise such  
13 judgment. It reads as follows:

14 "While the statutory standards apply to all  
15 drugs, the many kinds of drugs that are subject to  
16 the statutory standards and the wide range of uses  
17 for those drugs demand flexibility in applying the  
18 standards. Thus, FDA is required to exercise its  
19 scientific judgment to determine the kind and  
20 quantity of data and information an applicant is  
21 required to provide for a particular drug to meet  
22 statutory standards. FDA makes its views on drug

1 products and classes of drugs available through  
2 guidance documents, recommendations, and other  
3 statements of policy."

4 Next slide.

5 Turning back to the Draft 2019 Effectiveness  
6 Guidance, the final section of that document  
7 focuses on examples of situations when additional  
8 flexibility may be warranted. One way to exercise  
9 a judgment comes into place -- FDA's ability to  
10 fairly and responsibly rely on study designs that  
11 may produce less certainty in some circumstances,  
12 when appropriate. This reflects on the  
13 understanding that in some settings, less certainty  
14 about factors may be acceptable when balanced  
15 against the risk of rejecting or delaying marketing  
16 of an effective therapy.

17 FDA's decisions can take into account such  
18 circumstances as disease severity, disease rarity,  
19 extent of unmet need, and feasibility and ethical  
20 issues. However, while design and development  
21 program choices may result in greater or lesser  
22 degrees of certainty, in all cases, FDA must reach

1 the conclusion that there is substantial evidence  
2 of effectiveness. The statutory standard remains  
3 the same.

4 Next slide.

5 The Draft 2019 Effectiveness Guidance  
6 specifically addresses flexibility in the setting  
7 of life-threatening severely debilitating disease  
8 of unmet need and also in the setting of rare  
9 disease. The document discusses how flexibility  
10 can be incorporated in the approach to trial  
11 design, endpoints, number of trials, and  
12 statistical considerations.

13 Next slide.

14 In summary, today I've discussed that  
15 statute requires that substantial evidence of  
16 effectiveness be demonstrated. I've described  
17 different approaches to demonstrating substantial  
18 evidence of effectiveness: the adequate and  
19 well-controlled clinical investigations approach,  
20 which can consist of either two trials or one  
21 large, multicenter trial considered to be the  
22 scientific and functional equivalent of two trials,

1 as well as an approach, if determined to be  
2 appropriate, consisting of a single adequate and  
3 well-controlled clinical investigation plus  
4 confirmatory evidence.

5 I've also noted that statute and regulation  
6 both describe the role of flexibility, which is  
7 further described in the Draft 2019 Effectiveness  
8 Guidance. Flexibility may be particularly relevant  
9 in the setting of life-threatening severely  
10 debilitating disease of unmet need and rare  
11 disease. And with that, I'll end the presentation  
12 and turn it over.

13 DR. FARRELL: Thank you, Dr. Pippins, for  
14 that informative presentation.

15 Now, I would like to introduce our final  
16 speaker. Dr. Jeff Siegel is the director of the  
17 Office of Drug Evaluation Sciences in the Office of  
18 New Drugs, and the title of his presentation is the  
19 Role of Translational Science in Rare Disease Drug  
20 Development.

21 Dr. Siegel.

22 DR. SIEGEL: Good morning, everyone. Before

1 we start, I'd like to make sure everyone can see  
2 the slides and me, because when I first got on, I  
3 was unable to.

4 Please raise your hand if you cannot see the  
5 slides and me.

6 (No response.)

7 DR. SIEGEL: Okay. It looks like it was  
8 just me who was having that problem.

9 **Presentation - Jeffrey Siegel**

10 DR. SIEGEL: In any case, good morning,  
11 everyone. My name is Jeffrey Siegel. I'm the  
12 office director for the Office of Drug Evaluation  
13 and Sciences in the Office of New Drugs, in the  
14 Center for Drugs at FDA. I'm going to be speaking  
15 to you about the role of translational science in  
16 rare disease drug development.

17 Next slide.

18 Translational science really plays a key  
19 role in rare disease drug development -- I don't  
20 think that's a surprise to anyone -- and  
21 translational work, including biomarkers,  
22 unfortunately may not fulfill its potential in drug

1 development unless the discovery phase is followed  
2 by adequate analytical and clinical validation.  
3 Partnering with drug developers and consortia can  
4 allow translational science discoveries to fulfill  
5 their potential in drug development.

6 When I pause, you can advance the slides.

7 A resource, in case anyone is unaware of it,  
8 is the BEST resource. This is a site that contains  
9 explanations for the different types of biomarkers  
10 and how they're used in drug development.

11 Next slide.

12 Here's the list of different types of  
13 biomarkers. You've probably all seen these before.  
14 But I want to go through the implications this has  
15 for the work that you all do in promoting rare  
16 disease drug development.

17 Next slide.

18 Go back. Somehow the slides didn't work.

19 Okay. I just want to go through a couple of  
20 these and how important they are. Diagnostic  
21 biomarkers; in some situations, there may be a  
22 disease that has a common presentation, but there

1 are two fundamentally different genetic causes of  
2 it. In a case like that, having a diagnostic  
3 biomarker that distinguishes one type from another  
4 is really critical, and that would ordinarily  
5 be -- that should be part of the inclusion criteria  
6 for a clinical trial.

7           Next, prognostic biomarkers, these are  
8 obviously critically important. Imagine that you  
9 have a rare genetic disease that progresses slowly  
10 over time. It doesn't progress in six months; it  
11 doesn't progress in a year. It progresses in more  
12 like 3 years, 5 years, 10 Years. You can't  
13 necessarily rely on natural history studies to  
14 represent what's true now because there may be  
15 standard-of-care treatments that are actually  
16 effective but were never approved because there  
17 wasn't substantial evidence.

18           One of the reasons for this is  
19 that -- sorry. Someone sent me a text, and it's a  
20 little distracting.

21           Yes, one of the reasons for this is that in  
22 the old days, you would collect natural history

1 data based on patients who came to medical  
2 attention because of terrible, terrible  
3 consequences -- developmental delays and so  
4 on -- but now with genetic testing, we've learned  
5 that many of these diseases have a variable course.  
6 Some people may not present until they're  
7 adolescents. Some may progress when they're  
8 2 years old.

9           So having prognostic biomarkers can allow  
10 you to match rare disease patients with the natural  
11 history controls, and that's something that really  
12 needs to be worked on more, but I think it's an  
13 important area for the future.

14           Monitoring biomarkers are measures of  
15 disease. They can be imaging biomarkers or panels  
16 of protein biomarkers. Lots of things can be  
17 considered for monitoring biomarkers, but they  
18 should measure something important about the  
19 disease and its progression.

20           Then you have pharmacodynamic and response  
21 biomarkers, including surrogate endpoints. These  
22 are pharmacodynamic biomarkers, so when you treat

1 with the drug, you can see an effect, and the  
2 effect reflects an impact on the target so that you  
3 can see what the drug is doing, hopefully rapidly,  
4 and then you can measure -- you can correlate the  
5 effect on the pharmacodynamic marker with long-term  
6 clinical outcomes, and that would represent a  
7 potential surrogate.

8           There are situations where things that you  
9 think would be good surrogates may not be because  
10 the substrate upstream of the missing enzyme may  
11 not necessarily have the effect of clearly being  
12 the metabolite that's responsible for the disease,  
13 so something to keep in mind.

14           Next slide.

15           When we think about using biomarkers in  
16 clinical development, we think about the type of  
17 biomarker it is, or prognostic, or enrichment, or  
18 whatever, and then how the biomarker impacts the  
19 clinical trial or drug development program. That's  
20 what's called the context of use. If it's to be  
21 used as a primary endpoint for approval of drugs  
22 for NPC, then that's how you would use it.

1           Next slide.

2           When we think about analyzing clinical  
3 trials using a biomarker, we think about the  
4 analytical validation and the clinical validation.  
5 The analytical validation has to do with whether  
6 the biomarker measures what it purports to measure,  
7 and whether it can be done with sensitivity and  
8 specificity, and is accurate and sensitive.  
9 Clinical validation, in contrast, has to do with  
10 the way the biomarker corresponds to a clinical  
11 outcome of interest.

12           Next slide.

13           Translational science could play a number of  
14 important roles in drug development programs. As  
15 Dr. Pippins has mentioned to you, one of the  
16 approaches for demonstrating substantial evidence  
17 of effectiveness, described in the Food, Drug, and  
18 Cosmetic Act, is with one adequate and  
19 well-controlled clinical investigation and  
20 confirmatory evidence.

21           When a drug's anticipated to be approved  
22 based on a single adequate and well-controlled

1 trial, there's a need for confirmatory evidence,  
2 and this confirmatory evidence can take many forms,  
3 some of which involve translational evidence.

4 I've shown in red the ones that involve  
5 translational evidence. There's clinical evidence  
6 from a related indication, which would not involve  
7 translational evidence. Mechanistic evidence could  
8 provide important support for a drug development  
9 program. Pharmacodynamic evidence in humans could  
10 provide important support. Evidence from a  
11 relevant animal model could provide important  
12 mechanistic evidence, assuming that the animal  
13 model is a phenocopy for the human disease.

14 Please advance my slides when I pause.

15 Biomarkers are integrated in drug  
16 development in a number of different ways. They  
17 can be incorporated as part of the drug approval  
18 process. Sometimes scientific community consensus  
19 is enough. Think of PTH levels for secondary  
20 hyperparathyroidism. Those trials never met  
21 Prentice criteria. That would be unnecessary  
22 because the mechanistic evidence was clear that

1 high PTH levels were the definition of the disease.

2 Then the other is through a program in my  
3 office, which is the Biomarker Qualification  
4 Program. With this program, once you're qualified,  
5 any drug development program can use the biomarker  
6 in their drug development program so long as it is  
7 the same context of use and the same type of  
8 biomarker in the validated assay.

9 Please advance my slides when I pause.

10 There are three interconnected paths to  
11 biomarker validation. One is through the Biomarker  
12 Qualification Program, like I just showed you; one  
13 is by scientific community consensus; and the other  
14 is, of course, through the drug approval process.  
15 Pharmaceutical companies, sponsors, can submit the  
16 biomarkers part of their program, and then it  
17 doesn't come to the Biomarker Qualification Program  
18 per se, but we get consulted, and then we would  
19 provide our input on the evidence for use of the  
20 drug in that particular drug development program.

21 Next slide. Thank you.

22 These are the different steps in the

1 Biomarker Qualification process.

2 Next slide.

3 I'd like to give you two examples of how  
4 biomarkers and translational science can be used in  
5 drug development programs. The first example is  
6 progeria. HGPS, as you all know, is extremely  
7 fatal, extremely rare, autosomal dominant  
8 segmental, and a premature aging disease.

9 Death is typically by heart failure at  
10 15 years, but work from Francis Collins' lab and  
11 colleagues at other institutions identified lamin A  
12 as the responsible gene and demonstrated in animal  
13 models mutations in lamin A phenocopied HGPS, and  
14 the pathophysiologic pathway was determined to be  
15 persistent farnesylation of lamin A causing damage  
16 as cells age. Inhibitors of farnesylation  
17 ameliorate disease in animal models, lonafarnib,  
18 which is now approved for this dreadful disease.

19 Next slide.

20 I wanted to share how translational science  
21 contributes to developing effective therapy for  
22 HGPS. The first is genetic studies in humans

1 demonstrated the causal mechanism of HGPS, then the  
2 causal pathway was determined in animal studies to  
3 be this excessive farnesylation. The animal model  
4 recapitulated the human disease, making it really  
5 easy to test new drugs in the animal model to find  
6 out which ones were likely to work in humans. As  
7 you can see on the right in a study of mortality in  
8 progeria, this drug was shown to have a substantial  
9 effect on mortality in HGPS.

10 Next.

11 The next example I'd like to show you is  
12 AD-PKD. A consortium, put together by the  
13 C-Path Institute, the Critical Path Institute,  
14 related total kidney volume to progression of renal  
15 disease in autosomal dominant polycystic kidney  
16 disease. They developed a model shown here, where  
17 you could put in any set of baseline  
18 characteristics and show the rate of progression  
19 that was seen in patients with PKD. It's really  
20 quite remarkable because with any one set of  
21 parameters, you see very tight confidence intervals  
22 on what the progression rate is likely to be.

1           Next.

2           This model allowed us to determine, with  
3 quite a high level of precision, that total kidney  
4 volume was a prognostic biomarker for PKD. It was  
5 initially qualified as a prognostic biomarker based  
6 on modeling results, and subsequently it was  
7 applied in individual drug development programs.  
8 Data supported acceptance by the FDA review  
9 division as reasonably likely substantial evidence  
10 for accelerated approval.

11           Next.

12           I want to emphasize how important  
13 partnerships are. Partnerships can be a tremendous  
14 resource for bring together different stakeholders  
15 to qualify biomarkers in what would otherwise be a  
16 highly resource-intensive area. Academic groups  
17 may not have the funds or necessary data or samples  
18 to qualify biomarkers for regulatory decision  
19 making, but public-private partnerships like FNIH  
20 and the Critical Path Institute can play an  
21 important role in pulling these resources together  
22 and bringing together the different stakeholders to

1 be able to move the programs forward.

2 Public-private partnerships serve as  
3 intermediaries between patient groups, industry,  
4 academia, and regulators to develop novel drug  
5 development tools. There's a key role to collect  
6 trial data, share biosamples, integrate data sets,  
7 analyze and share data, and public workshops offer  
8 the opportunity for all stakeholders to share their  
9 views.

10 Biomarker developers may want to seek  
11 partnership with drug developers to assist in  
12 analytic validation, clinical validation, and  
13 incorporating the candidate biomarker in  
14 prospective clinical trials.

15 Next.

16 That's it. Thank you. I'm sorry there's  
17 not much time for questions.

18 **Session 1 - Questions and Answers**

19 DR. FARRELL: Thank you, Dr. Siegel, for  
20 that excellent presentation.

21 Now we will transition into the question and  
22 answer period for Session 1, as soon as all our

1 speakers are ready.

2 We've received a number of questions from  
3 the audience, which we appreciate. The first one,  
4 we received a number of questions regarding N of 1  
5 trials and what our recommendations are in this  
6 space.

7 DR. MAYNARD: Great. This is Janet Maynard.  
8 I can start with that question.

9 We did receive several questions regarding  
10 N of 1, and I will say that there's been  
11 significant progress in the area of individualized  
12 medicine, where now with advances in technology, it  
13 really is possible to design drugs for an  
14 individual patient looking at their specific  
15 genetic defects. That of course raises very  
16 interesting regulatory considerations as we think  
17 about the normal drug approval pathway and how that  
18 might apply, where we're considering something  
19 that's being developed just for one patient.

20 This is a rapidly evolving space, and we are  
21 really committed at FDA to working with  
22 investigators. As I mentioned in my talk, we have

1 published four draft guidances from FDA that cover  
2 a range of topics, including clinical  
3 considerations, chemistry, manufacturing, and  
4 controls, nonclinical considerations, and even  
5 administrative considerations when you're working  
6 in this space.

7           So we hope that that is helpful. I think  
8 maybe one of the themes that we've had today during  
9 all of our different presentations is that it's so  
10 important to engage with FDA. So if you are  
11 working in this space and you have a specific  
12 question, please reach out to the relevant review  
13 division with your specific questions because we  
14 really want to work with you and address those  
15 questions as they arise during development.

16           I'll see if Jen or Jeff have anything they  
17 want to add to that.

18           DR. PIPPINS: Not to that. I think that  
19 covers it. I know we have many questions, so  
20 perhaps we'll move on to something else.

21           DR. FARRELL: Okay. Great.

22           The next question -- and we had a few of

1 these questions as well -- is when is a single-arm  
2 trial sufficient for the establishment of efficacy?

3 DR. PIPPINS: I'll take that. That's a  
4 great question, and it gets to the heart of so many  
5 different issues and raises a number of different  
6 considerations and topics, so you'll bear with me  
7 if I'm a little wordy.

8 The first topic the question raises is  
9 whether a single-arm trial can be considered an  
10 adequate and well-controlled investigation suitable  
11 for demonstrating substantial evidence of  
12 effectiveness, and as noted in the presentations,  
13 clinical investigations intended to demonstrate  
14 substantial evidence of effectiveness must be  
15 adequate and well controlled.

16 In the description of an adequate and  
17 well-controlled investigation, the CFR, the Code of  
18 Federal Regulations, states that the purpose of an  
19 adequate and well-controlled investigation is to  
20 distinguish a drug's effect from other influences.  
21 One of the key features of an adequate and  
22 well-controlled trial that allows it to accomplish

1 this goal is the use of controls, and the  
2 regulations describe a number of different  
3 controls.

4 By definition, a single-arm trial doesn't  
5 have a concurrent control group, and by concurrent,  
6 I mean a control within the same trial. But what's  
7 important to realize is that a single-arm trial can  
8 still be controlled, and that can happen in a  
9 variety of ways.

10 For example, as discussed already in these  
11 presentations, there can be an external control  
12 such as that drawn from a natural history study or  
13 from a placebo group from another trial.

14 Alternatively, the control could be acknowledged  
15 external to the trial; for example, enough might be  
16 known about the disease that it could be concluded  
17 that the changes observed in the trial reflect the  
18 effect of the drug.

19 The classic example of this, everyone knows,  
20 is drawn from oncology, where tumors aren't  
21 expected to spontaneously shrink. So if tumors are  
22 observed to regress in a single-arm trial, there's

1 a basis on which to conclude that this represents  
2 an effect of the drug.

3 Now notably, as I already mentioned, there  
4 are many considerations to keep in mind when  
5 assessing whether or not an external control group,  
6 or a control group based on external knowledge  
7 outside of the trial, is appropriate, but certainly  
8 it's possible for a single-arm trial with an  
9 appropriate control to be adequate and well  
10 controlled, and therefore able to provide  
11 substantial evidence of effectiveness.

12 Now, I noted that the question raises a  
13 number of issues. Whether or not that single-arm  
14 trial -- that one single-arm trial -- is sufficient  
15 on its own to demonstrate substantial evidence in  
16 effectiveness, that's another issue, and that  
17 really speaks to everything that my presentation  
18 talked about in terms of the different approaches  
19 to establishing substantial evidence of  
20 effectiveness.

21 So I'll stop there and see if anyone else  
22 has anything to add to that.

1 DR. MAYNARD: Yes, that was really helpful,  
2 Jen.

3 I'll just add, to emphasize what Jen had  
4 discussed, this is really in a situation where we  
5 understand the natural history of the disease very  
6 well, and we have a good understanding of what  
7 would be expected in that disease.

8 We frequently have patient groups and other  
9 advocacy groups who come to us and say, "What can I  
10 do? I really want to help rare disease product  
11 development. What can I do?" And sometimes having  
12 information from a very robust natural history  
13 study can be helpful, not only in the setting of  
14 external controls, but also really to have a better  
15 understanding of the disease and the anticipated  
16 effect that it will have on patients, which really  
17 plays a critical role as we're thinking about the  
18 overall development program for a specific rare  
19 disease.

20 Some of the other questions we received in  
21 the meeting registration, in the context of  
22 external controls or single-arm trials was, how do

1 I get FDA's agreement on this when we're thinking  
2 about this in the development program? As I  
3 mentioned earlier, those are really conversations  
4 that should be happening with the review division,  
5 so as you're designing a potential study, really  
6 engage in those conversations.

7 FDA does have meetings around product  
8 development, where we meet with either folks from  
9 academic or sponsors to understand different  
10 questions that come up during development. So it's  
11 really important to have those conversations and  
12 think about the different considerations that Jen  
13 raised in the context of that specific development  
14 program for that specific disease.

15 DR. FARRELL: Okay. Thank you.

16 The next question is about biomarkers, and  
17 we got a few of these.

18 What are the most important questions the  
19 FDA is looking for when investigators are  
20 considering a novel biomarker as a primary endpoint  
21 to demonstrate efficacy through the accelerated  
22 approval pathway in these orphan drug indications?

1 Even before that, what should they be contemplating  
2 when they're thinking about novel biomarkers for a  
3 rare disease?

4 DR. SIEGEL: So let's imagine a couple  
5 contexts. We'll start with an easy one, and then  
6 turn next to a more difficult one.

7 An easy one is a genetic disease where  
8 there's a particular enzyme missing, and there's an  
9 upstream substrate that can be demonstrated to  
10 cause the toxicity. And if you don't have that  
11 increased level of the substrate, you don't have  
12 toxicity. That's the straightforward and easy way  
13 that you can incorporate biomarkers for regulatory  
14 decision making

15 In contrast, if you have a more complicated  
16 situation where in the animal model it, for  
17 instance, doesn't phenocopy the human disease, that  
18 makes it much more difficult, and if you have a  
19 biomarker where you can't be -- okay, let's imagine  
20 this situation.

21 You have a missing enzyme. You give a drug  
22 treatment in the animal model, and it turns off one

1 substrate but not necessarily another one, and you  
2 don't know for sure that the particular substrate  
3 that comes down is actually the one that's  
4 responsible for the disease. So making sure that  
5 they correspond is a really important aspect of  
6 what we do.

7 I think that's probably the main aspect of  
8 what I want to cover here. Thank you.

9 DR. FARRELL: Okay. Great. Thank you.

10 The next question is, what is the criterion  
11 to define a rare disease, and is this the same as  
12 an orphan disease?

13 DR. MAYNARD: In the United States, the  
14 Orphan Drug Act defines a rare disease as a disease  
15 or condition that affects less than 200,000 people  
16 in the United States. That's generally what we  
17 mean when we're referring to a rare disease, as  
18 defined in the Orphan Drug Act.

19 DR. FARRELL: Okay. Thank you.

20 The next question is about basket trials.  
21 Are basket trials an acceptable way of featuring  
22 clinical trials for rare diseases in non-oncology

1 indications with shared molecular ideologies?

2 DR. PIPPINS: I can take that, and just to  
3 make sure everyone's on the same page, it might be  
4 worth just reviewing a couple of definitions.

5 A master protocol is defined as one  
6 overarching protocol, and the key here is that it's  
7 designed to answer multiple questions. There are  
8 different kinds of master protocols, and this  
9 particular question is about the type known as a  
10 basket trial. Basket trials are designed to test a  
11 single investigational drug in the context of  
12 multiple diseases or disease subtypes, typically  
13 conditions that are related, such as the question  
14 mentioned with similar molecular ideologies.

15 The short answer is there are definitely  
16 ways in which basket trials could certainly play a  
17 role in drug development for rare disease. They're  
18 particularly attractive because master protocols,  
19 in general, in basket trials may offer certain  
20 types of efficiencies in terms of clinical drug  
21 development.

22 As will be discussed today and throughout

1 the entire workshop, there are various constraints  
2 and limitations that are created, or barriers are  
3 created in this setting of rare disease, given just  
4 the particular issues of having diseases with such  
5 low prevalence. So to have a tool like a basket  
6 trial that might provide certain efficiencies with  
7 testing different diseases or disease subtypes  
8 within a single protocol certainly is attractive,  
9 and there may very well be a role for it in drug  
10 development.

11 I want to point people to a couple  
12 resources. There's a really helpful, just general,  
13 opinion piece in the New England Journal back in  
14 2017 by Dr. Woodcock and Dr. LaVange, which  
15 provides an FDA perspective on master protocols.

16 Then as alluded to in the question, most  
17 experiences that we've had with master protocols,  
18 or at least with basket trials, is in the setting  
19 of oncology. So while it doesn't directly speak to  
20 our topic today in terms of rare disease broadly,  
21 there are principles in a guidance put out and  
22 actually recently finalized by oncology about

1 master protocols that could certainly be useful.

2 So I would point people to those resources.

3 DR. FARRELL: Thank you.

4 We've got a number of questions about  
5 real-world evidence. The first one -- and we might  
6 just ask for some comments from everybody if  
7 everybody has any -- is how can real-world evidence  
8 be used for confirmatory evidence for accelerated  
9 approval?

10 DR. PIPPINS: So I can start off with that  
11 one as well.

12 Fit-for-purpose, real-world data has the  
13 potential to generate real-world evidence that can  
14 be used to support a number of different regulatory  
15 type decisions. I'm actually going to answer this  
16 question for regulatory decisions more broadly and  
17 not just in the context of accelerated approval  
18 because it's relevant beyond just accelerated  
19 approval.

20 Again, just to make sure everyone has the  
21 same information, it's helpful to define a couple  
22 of terms. Real-world data is data relating to

1 patient health status and/or the delivery of  
2 healthcare routinely collected from a variety of  
3 sources. Real-world evidence is the clinical  
4 evidence regarding the usage and potential benefit  
5 to risks of a medical treatment that's derived from  
6 the analysis of real-world data. So you start with  
7 raw data, analyze it, and you can generate  
8 real-world evidence.

9 Real-world data can be used in different  
10 study designs to analyze it, so anything from  
11 randomized trials, including large single trials.  
12 It could be used as an external control arm in a  
13 single-arm trial. It could be used in  
14 observational studies. So there are different ways  
15 of using real-world data.

16 FDA has a robust real-world evidence program  
17 that includes guidance development, demonstration  
18 projects, and external engagement, all exploring  
19 the use of RWD and RWE in regulatory decision  
20 making. In addition, you will recall in my  
21 presentation, I referred to the Draft 2019  
22 Effectiveness Guidance. That guidance does comment

1 and describes RWE as a possible source of  
2 confirmatory evidence.

3 DR. MAYNARD: Great. And maybe I'll just  
4 add a little bit on to what Jen is saying.

5 I think something that's really important  
6 when we're thinking about rare disease product  
7 development is really keeping the end in mind. Our  
8 goal is to have safe and effective drugs approved  
9 for patients and families living with rare  
10 diseases, and as we've sort of alluded to today,  
11 there are lots of different considerations in rare  
12 disease product development, and there are lots of  
13 different ways you can try and establish  
14 substantial evidence of effectiveness.

15 I think it's important, though, to keep the  
16 end in mind and consider how the different pieces  
17 of a development program will support that overall  
18 assessment of whether or not the drug is safe and  
19 effective for its intended use.

20 The questions are great because they've  
21 really alluded to a lot of the different creative  
22 thinking that we are seeing in rare disease product

1 development, and generally it's not a  
2 one size fits all. Each development program will  
3 have different considerations, whether that means  
4 related to real-world data and real-world evidence  
5 or the questions we were getting about N of 1 or  
6 basket trials. It's really important that we, of  
7 course, learn from other areas in rare disease  
8 product development but of course focus on the  
9 specific questions related to that development  
10 program.

11 Jeff, I don't know if you had anything else  
12 you'd like to add.

13 DR. SIEGEL: No. Thanks very much. I think  
14 you covered it very well.

15 DR. FARRELL: Okay. Great. Thank you.

16 We've received a question regarding global  
17 rare disease drug development and how we are  
18 working with our international counterparts.

19 Anybody would like to comment on that?

20 DR. MAYNARD: Sure. I can take that.

21 Rare diseases are, of course, inherently  
22 rare, and many of them affect patients globally, so

1 it's so incredibly important, especially for rare  
2 diseases, that we work with our international  
3 partners.

4 The Rare Diseases Team in the Division of  
5 Rare Diseases and Medical Genetics and the European  
6 Medicines Agency, or EMA, co-lead the international  
7 rare diseases cluster meeting, which is a  
8 confidential forum in which FDA, EMA, and other  
9 regulatory agencies convene to facilitate the  
10 exchange of information, including the scientific  
11 advice regarding rare disease drug development  
12 programs.

13 This is one example of communicating with  
14 our international colleagues because it's clearly  
15 important in drug development, in general, but  
16 especially for our rare disease drug development  
17 programs, where it's so important that we think  
18 about the considerations with our international  
19 partners.

20 DR. FARRELL: Okay. Great.

21 The next question is, what's the typical  
22 path for biomarker qualification using an IND, and

1 can this be shortened for a rare disease?

2 DR. SIEGEL: The short answer is yes,  
3 absolutely. The way this would be done is there's  
4 a pharmaceutical company sponsor who has an idea of  
5 a drug using perhaps an animal model, with evidence  
6 that the drug will effectively shut off the disease  
7 in the animal model. Let's just imagine that  
8 scenario.

9 The IND holder perhaps would be the  
10 pharmaceutical company sponsor, or if there was  
11 enough infrastructure to support this, it could be  
12 the clinical investigator themselves. And I think  
13 we need to work on developing that infrastructure  
14 because it's not available yet at many prominent  
15 institutions, and it would be easy to implement it.

16 The typical path would be that they would  
17 have the evidence demonstrated clearly that their  
18 drug will, in fact, turn off the disease process in  
19 the animal model, and then they submit their IND  
20 showing that it in fact does that and what their  
21 plan is for the first trial of safety, and then  
22 what their future plans are for testing the drug in

1 patients to demonstrate effectiveness.

2 As I mentioned before, this is not as easy  
3 as you might think because often the rate of  
4 progression with current standard care is  
5 completely different than it was in the past. You  
6 need to have prognostic biomarkers with very little  
7 interpatient variability.

8 DR. FARRELL: Okay. Thank you.

9 The next question is also on biomarkers.  
10 Could you address the options for developing  
11 clinical trials for rare diseases that progress  
12 slowly? And they're using an example of aberrant  
13 deposition of proteins that interfere with  
14 functioning but accumulate over seven years.

15 Could measurement of levels of the defective  
16 protein showing reduction act as a surrogate  
17 endpoint without the need to show prevention of  
18 disease?

19 DR. SIEGEL: Can you repeat the last part?

20 DR. FARRELL: They're asking if the  
21 measurement of the levels of the defective protein  
22 showing reduction could act as a surrogate endpoint

1 without the need to show prevention of disease  
2 manifestations.

3 DR. SIEGEL: Yes, absolutely. A lot of  
4 these diseases are slowly progressive as we talked  
5 about, so it may be difficult in the time frame of  
6 a clinical trial to see any clinical difference  
7 between treated patients and controls. It's a  
8 problem that we see often.

9 What you want to do instead is to provide  
10 evidence that the levels of the protein correspond  
11 in a prognostic way to clinical outcomes. And when  
12 you show that, then it can be seen as a surrogate  
13 endpoint, and then you can do a clinical trial,  
14 which potentially would be a single-arm study.

15 That's all something that would be  
16 negotiated between the pharmaceutical company  
17 sponsor and the review division. But if it's  
18 accepted as a surrogate endpoint, then that would  
19 be the basis for an approval for the drug with an  
20 adequate clinical trial.

21 DR. FARRELL: Okay. Thank you.

22 The next question is, could you please share

1       some insight on how a historical external control  
2       can make up for lack of randomization in the case  
3       of rare diseases?

4               DR. MAYNARD:  Sorry, Sheila.  I briefly lost  
5       audio.  Would you mind repeating the question?

6               DR. FARRELL:  Sure.  Can you please share  
7       some insight on how a historical external control  
8       can make up for a lack of randomization in the case  
9       of rare diseases?

10              DR. MAYNARD:  Yes.  I think maybe, as  
11       Dr. Pippins mentioned earlier, when we're  
12       considering different trial designs, if we're  
13       considering using something like a historical  
14       control or some sort of external control, we need  
15       to think about the setting in which it's being  
16       used.

17              So generally, if we were using an external  
18       control, we would want to use it in a situation  
19       where the natural history of the disease is very  
20       well-defined.  Also, the external control group  
21       would have to be very similar to the treatment  
22       group within the study, and then we'd have to make

1       sure that the treatments that were used in an  
2       external control are similar to what's being used  
3       in the study itself. In addition, often this is a  
4       situation where we would need to have very  
5       compelling evidence of an effect just so that we  
6       can make sure that it was not due to chance alone.

7               I'm not sure if I'm addressing it.

8               Jen, was there anything else you wanted to  
9       add or that I missed as I was trying to address the  
10       question, to make sure we got it?

11              DR. PIPPINS: No, just to say it's obvious,  
12       but it may be worth repeating, that the whole point  
13       of this is that we're trying to limit bias, so  
14       we're trying to really be able to discern that the  
15       effect that's observed is indeed an effect of the  
16       drug. So that's why you want these groups to be as  
17       comparable as possible.

18              DR. SIEGEL: Let me comment as well.  
19       Diseases like NPC progress very slowly, as we  
20       mentioned, so it may be very difficult to see an  
21       effect of the drug in the time frame of a clinical  
22       trial, but let's take a disease like methylmalonic

1 academia. There are investigators at the NIH  
2 who've been studying methylmalonic acidemia, and  
3 they have an amazing biomarker that seems to  
4 correlate with clinical outcomes in a very clear  
5 way, in a way that the substrate upstream of the  
6 missing enzyme does not, which is really  
7 remarkable, but that's their finding.

8 So in that case, the biomarker would be used  
9 as a surrogate endpoint, and it would be easy to  
10 show that this is what patients do currently and  
11 this is what patients do on this drug that  
12 effectively treats methylmalonic acidemia; very  
13 straightforward like that.

14 DR. FARRELL: Okay. Terrific. Thank you.

15 We've got a number of questions kind of  
16 asking a little bit more information on what  
17 specific examples of confirmatory evidence might  
18 be. Would anybody like to try to delve into that a  
19 little deeper?

20 DR. PIPPINS: Sure. I believe in one of my  
21 slides I talked about site examples, including four  
22 examples that are described in the 2019 Draft

1 Effectiveness Guidance. But among the various  
2 types of confirmatory evidence, there can be  
3 evidence from a clinical investigation conducted  
4 not for that specific disease but a closely related  
5 disease, where that information can be relevant and  
6 help to substantiate the results of a single trial.

7           Jeff touched on this somewhat, and in some  
8 ways the most examples we have today are  
9 confirmatory evidence drawn from information about  
10 the mechanism of the drug and/or pharmacodynamic  
11 effects of the drug that certainly can serve.

12           Additionally, we've discussed how RWE could  
13 potentially serve as confirmatory evidence, and  
14 then also information drawn about the natural  
15 history of disease. I want to note that, in that  
16 case, it's important -- the whole role or purpose  
17 of CE, or confirmatory evidence, is to provide  
18 substantiation of results, so if we're talking  
19 about natural history disease, information to serve  
20 as confirmatory evidence, we're not talking about  
21 information that's being used as, say for example,  
22 an external control for that single trial, but

1       rather we're talking about additional information  
2       that might provide additional confirmation of  
3       what's observed in, say, a control group for a  
4       trial; the concept being that if you're doing  
5       substantiation, you don't want something that's  
6       trying to substantiate itself. You want something  
7       external to the single trial in order to provide  
8       that substantiation.

9               So those are some examples, but I'll note  
10       that the 2019 guidance that talks about those four  
11       categories, those are examples. It's not intended  
12       to be an exhaustive list of the types of  
13       confirmatory evidence that are possible. So it's  
14       super important that sponsors engage the agency  
15       with regard to what they are thinking about.

16               DR. MAYNARD: Just to add a little bit on to  
17       what Jen is saying, the questions we received in  
18       the meeting registration, there was a lot of  
19       interesting examples, so I just wanted to make sure  
20       folks were aware of the resource we have.

21               Drugs at FDA is a website, which if you just  
22       Google Drugs at FDA, that's the easiest way to find

1 it, and it includes information, including reviews  
2 of approved drugs, and also includes the labeling  
3 information.

4 That can be a great resource if you want to  
5 look at different examples to see how FDA has  
6 articulated the review of specific applications,  
7 and that could be helpful as you're thinking about  
8 these questions about what is exactly substantial  
9 evidence of effectiveness or what are some examples  
10 of confirmatory evidence.

11 So I just wanted to make sure that folks  
12 were aware of that resource, and it can also be  
13 helpful looking for the most updated version of the  
14 labeling and things like that.

15 DR. FARRELL: Okay. We've got a couple  
16 questions on single trials. This question is  
17 asking, if we could provide some examples of rare  
18 disease drugs, non-oncology, that obtained approval  
19 on the basis of a single trial with confirmatory  
20 evidence, what is the process to communicate or get  
21 agreement with the FDA regarding use of one  
22 adequate and well-controlled trial with

1 confirmatory evidence?

2 Can the FDA provide a determination that one  
3 trial is adequate and well controlled during the  
4 IND stage, and if so, what kind of information  
5 would they need to provide to make this request?

6 It's a lot in that question.

7 DR. PIPPINS: This is a great question, and  
8 you're right, it packs a lot. It packs a lot in  
9 there. I can start off with some comments about  
10 process.

11 This is super important, but sponsors  
12 considering a development program consisting of one  
13 adequate and well-controlled trial plus confirmed  
14 evidence should engage as early as possible with  
15 FDA. There are a variety of venues for engagement  
16 with the agency during development, including  
17 milestone meetings such as even before the IND  
18 stage at the pre-IND meeting or end of phase 2  
19 certainly. At these moments of engagement, a major  
20 central topic of discussion should be the  
21 anticipated approach to demonstrating substantial  
22 evidence of effectiveness.

1           Of course, whether or not the data generated  
2 by a development program, whether or not they're  
3 sufficient for approval, will ultimately depend on  
4 the results themselves. But certainly review  
5 divisions and sponsors can engage over the question  
6 of whether a single trial for CE approach appears  
7 to be reasonable.

8           The type of information -- which I think  
9 this is a great part of the question -- that should  
10 be provided to allow for such a discussion will  
11 include, at a minimum, the design of the single  
12 trial; what's anticipated about how persuasive its  
13 results might be; and information about the types  
14 and quantity of confirmatory evidence that are  
15 anticipated to be able to substantiate the single  
16 trial.

17           In terms of the nature of the discussion,  
18 the agency's ability to comment on the adequacy of  
19 the proposed approach is going to vary on the  
20 availability of the data from the program at the  
21 time of discussion. These may be somewhat  
22 iterative discussions in terms of from the pre-IND

1 stage to later on in development.

2 I don't know if others have additional  
3 things to add.

4 DR. MAYNARD: Yes, Jen. I completely agree  
5 with you. I think these discussions generally  
6 happen throughout development, but especially at  
7 the pre-NDA, or new drug application, or pre-BLA  
8 biologic license application meeting because at  
9 that meeting, really, when FDA and the sponsor can  
10 sit down and talk about the sponsor's anticipating  
11 submitting in their application. Generally, that  
12 would include consideration of the different trial,  
13 if it's one single trial, and what the confirmatory  
14 evidence would be.

15 Just to emphasize what Jen mentioned, at  
16 that meeting, FDA will have not reviewed the full  
17 details that are available from that because the  
18 sponsor would not have submitted the full details  
19 yet. But there can be an understanding and a  
20 discussion about what the anticipated scope of the  
21 development program is and how the sponsor is  
22 planning to support substantial evidence of

1 effectiveness.

2 That's the time, really, during development  
3 when those conversations are happening. And  
4 generally there is discussion and consideration of  
5 different proposals, and what are the potential  
6 strengths and weaknesses of the different  
7 proposals, and how those might be addressed when  
8 the application is submitted to FDA for review.

9 DR. FARRELL: Okay. Thank you.

10 In rare diseases, surrogate biomarkers can  
11 be predictive but not in all cases, especially in a  
12 heterogeneous disease population. Does regulatory  
13 flexibility apply here when not all patients see a  
14 benefit, despite showing a reduction in a surrogate  
15 biomarker?

16 DR. SIEGEL: I think that's actually an easy  
17 one. If you look at a particular disease, there  
18 can be different biologic subtypes that have  
19 different clinical courses, but within each one  
20 there would be, presumably, a similar course to the  
21 disease. And you would need to show that you've  
22 identified the key factors that determine when a

1 patient will progress or won't progress well on the  
2 treatment.

3 So in a situation like that, you would -- I  
4 think that's all I'm going to say. Thank you.

5 DR. FARRELL: Okay. Thank you.

6 Can you describe the difference between  
7 timing and development of a biomarker qualification  
8 in a surrogate endpoint for discussions with the  
9 FDA?

10 DR. SIEGEL: The way the Biomarker  
11 Qualification Program works is that there are three  
12 separate stages. First, the submitter submits a  
13 letter of intent, and we can have discussions in a  
14 pre-LOI, or pre-letter letter of intent, phase  
15 where we meet with the submitter and discuss what  
16 they would need to show to demonstrate that the  
17 product is an effective surrogate.

18 Once we're started on that, then the letter  
19 of intent would be accepted, and then we would go  
20 on to the next phase, which is the QP, the  
21 qualification plan stage, where the plan for  
22 analyzing the data and what data would be submitted

1 is submitted, and then we have opportunity to ask  
2 questions about it to make information requests to  
3 the submitter, who will provide explanations of  
4 their rationale for what they're doing.

5 Then based on that, once the qualification  
6 plan is accepted, we would proceed with the  
7 submitter putting the data together to support the  
8 drug being a surrogate endpoint. And at the end,  
9 they would submit a full qualification package  
10 where they would pull all the data together with  
11 the analyses that they said they were going to do  
12 in the qualification plan stage. Then we would  
13 look at the program, and if the data are  
14 supportive, we would accept the full qualification  
15 package and qualify the biomarker for the context  
16 of use, primary endpoint, as a surrogate or  
17 prognostic endpoint, whatever the appropriate  
18 context would be.

19 DR. FARRELL: Great. Thank you.

20 This is a question about getting FDA's  
21 input. This person is asking about the FDA  
22 feedback for rare and ultra-rare disease programs

1 if they've been working on fairly standard  
2 approaches but would like to reach out to  
3 individuals at the FDA to help navigate more novel  
4 approaches, and does anybody have any advice for  
5 that.

6 DR. MAYNARD: It's not fully clear to me  
7 from the question if it's in the context of a  
8 specific drug development program or if it's  
9 questions more in general. If it's a specific  
10 question about a drug development program like  
11 under an IND, then the best mechanism would most  
12 likely be to work with the review division. If  
13 it's a broader question, there are other forums  
14 which we can discuss more general topics,  
15 potentially something like a CPIM meeting, which we  
16 can discuss more general considerations related to  
17 facilitating drug development.

18 So it depends a little bit on the context of  
19 exactly the question, and that would be helpful to  
20 get an answer to. If it's specific, as I mentioned  
21 to a specific application, then I would interact  
22 with the review division, and more general, then

1 you could consider other mechanisms.

2 I don't know, Jeff and Jen, if there's  
3 anything else you wanted to add to that.

4 DR. SIEGEL: I'm good.

5 DR. FARRELL: Okay.

6 A number of questions on the difference  
7 between the different divisions; there's the rare  
8 disease group, and then there are divisions  
9 throughout the OND that deal with rare diseases but  
10 aren't actually the rare disease group. And  
11 they're just wondering about when they submit  
12 things to those divisions, are there other experts  
13 in those divisions, or what kind of expertise the  
14 divisions that aren't specifically rare diseases  
15 have at their disposal to help work through these  
16 programs.

17 DR. MAYNARD: Yes, that's a great question.  
18 The Rare Diseases Team, which I mentioned, is  
19 located within my office, the Office of Rare  
20 Diseases, Pediatrics, Urologic and Reproductive  
21 Medicine, and they help think about rare disease  
22 issues more broadly. But a specific application

1 that would potentially be for a rare disease would  
2 be within the review division with subject matter  
3 expertise. For example, if it was a rare rheumatic  
4 disease, that would be reviewed in the division  
5 that considers rheumatology considerations.

6 The Rare Diseases Team, though, is available  
7 to provide consultative service if there are any  
8 questions related to rare disease product  
9 development. We recognize with this significant  
10 increase that we've had in terms of rare disease  
11 product development, that rare disease  
12 considerations really now affect the Office of New  
13 Drugs and, really, CDER very broadly. So part of  
14 our efforts have been making sure we have resources  
15 so we can support the reviewers in all the  
16 different review divisions, who are specifically  
17 looking at those applications, by sharing knowledge  
18 and science about rare disease considerations.

19 So to answer the question, there is both a  
20 broad rare diseases team that helps answer  
21 cross-cutting rare disease issues, and then also  
22 specific input that would be provided from that

1 specific review division related to the  
2 application.

3 DR. FARRELL: Thank you.

4 Unfortunately --

5 DR. SIEGEL: I'd like to --

6 DR. FARRELL: I'm sorry. Go ahead.

7 DR. SIEGEL: I'd like to comment also.

8 This is a really interesting and important  
9 question. In the old days, it was very hard to  
10 find pharmaceutical company sponsors who are  
11 interested in developing drugs for rare diseases.  
12 That's completely not the case anymore. It's very  
13 viable financially for companies to develop drugs  
14 for patients who have a particular disease without  
15 any difficulty. These companies will partner with  
16 patient advocacy groups and get the support from  
17 that, and they know that if they have a successful  
18 drug, that it can be used to treat patients and  
19 demonstrate effectiveness.

20 So what I'm saying is that if you feel that  
21 you have an effective biomarker that is a  
22 surrogate, you should reach out to pharmaceutical

1 company sponsors and find companies who are  
2 interested, and discuss with the different ones,  
3 and find a company that you think will effectively  
4 promote development of a drug based on your defined  
5 biomarker pathway.

6 So just as I mentioned before, we recommend  
7 partnering with the Critical Path Institute and  
8 with the FNIH as public-private partnerships.  
9 Similarly, we recommend, when appropriate and at  
10 the right time, that biomarker developers should  
11 reach out to pharmaceutical company sponsors so  
12 that they can get the support for the analytical  
13 validation they might need, and in some cases  
14 clinical validation as well.

15 DR. FARRELL: Unfortunately, we have come to  
16 the conclusion of the time allotted for Session 1.  
17 We have so many great questions, including a lot of  
18 really great questions on trial design, which will  
19 be addressed in Session 3. So we're sorry we  
20 weren't able to get to all your questions, but we  
21 do encourage you to go to the other sessions,  
22 including Session 3, so maybe your questions will

1 get answered there.

2 I would like to thank all of our speakers  
3 for the excellent presentations and all the  
4 wonderful audience participation. We will now have  
5 a break, and we will reconvene at 10:45 for  
6 Session 2. Thank you.

7 (Whereupon, at 10:30 a.m., a recess was  
8 taken.)

9 **Session 2**

10 **Elizabeth Ottinger - Moderator**

11 DR. OTTINGER: My name is Elizabeth  
12 Ottinger, and welcome to Session 2. I am part of  
13 the therapeutics development branch at NCATS, where  
14 our program focuses on preclinical development for  
15 rare diseases and improving the translational  
16 processes to support the initiation of clinical  
17 trials.

18 In the first session, we heard from the FDA  
19 on substantial evidence of effectiveness needed to  
20 support drug approval for rare diseases, and in  
21 this session, we'll have three case studies from  
22 academic investigators who will share their

1 experience in rare disease clinical trials of  
2 diseases of very low prevalence. They'll discuss  
3 both their challenges along the way, but also  
4 successes to be able to show that a drug is safe  
5 and effective.

6 We have three talks followed by the question  
7 and answers, so please make sure you ask your  
8 questions in the right-hand corner button so that  
9 we can have that after the three talks.

10 I'd like to introduce our first speaker who  
11 is Dr. Leslie Gordon. She's the professor of  
12 pediatrics research for the Warren Alpert Medical  
13 School of Brown University. She's a professor at  
14 Department of Pediatrics for Hasbro Children's  
15 Hospital; a research associate, Department of  
16 Anesthesia at Boston Children's Hospital and  
17 Harvard Medical School; and she's director and  
18 co-founder of The Progeria Research Foundation, and  
19 she'll be sharing her story on the approval of  
20 lonafarnib for progeria.

21 Welcome, Dr. Gordon.

22 DR. GORDON: Thank you very, very much, and

1 thank you for asking me to speak today.

2 Are my slides going to be put up? I have  
3 just Dr. Ottinger's view.

4 (Pause.)

5 FEMALE VOICE: Hi, Dr. Gordon. Your slides  
6 are up. I can see them.

7 DR. GORDON: Oh, okay. That's interesting.  
8 I cannot see my slides.

9 (Pause.)

10 **Presentation - Leslie Gordon**

11 DR. GORDON: Well, thank you very much,  
12 again, for asking me to speak. This is an  
13 incredibly important meeting, and I'm really  
14 honored to be able to tell my story.

15 Next slide, please.

16 This is just disclosures.

17 Next slide, please.

18 These are some of the children with  
19 progeria, the children we are trying to save  
20 through our efforts in drug development. I've been  
21 asked to come sort of as a case study here to tell  
22 you what we went through in the story of lonafarnib

1 approval, now called Zokinvy, and it's a 20-year  
2 study in 15 minutes, so I'm going to try to  
3 streamline. But there's a lot I'll be skipping  
4 over, and a lot of efforts, and trials, and  
5 tribulations, and I'll be hitting the high points.

6 Next slide, please.

7 This is the picture of my family, and Sam  
8 you see here. Sam was born, and at the age of 2  
9 was diagnosed with progeria. It's an ultra-ultra  
10 rare disease, and I'm sure you've heard this story  
11 so many times, rare diseases that are so rare that  
12 nobody knows anything about them, essentially, and  
13 that there's no place to go, and we didn't know if  
14 it even was a genetic disease.

15 So families do these things. They start  
16 foundations. We started The Progeria Research  
17 Foundation in 1999 to find cause, treatment, and  
18 cure for children with progeria all around the  
19 world.

20 Next slide, please.

21 Now, I'm just going to focus on just a  
22 couple of things here. Progeria has a prevalence

1 of 1 in 20 million, so there are about, today,  
2 maybe 400 kids with progeria throughout the world;  
3 very, very rare. The children all die of heart  
4 disease. The atherosclerosis that usually hits you  
5 and me in our 60s and 70s, hits them before the age  
6 of 10, and they die in their teens. This child on  
7 the right here, you see her born, but you see her  
8 on the right, and she's only 10 years old.

9 Next.

10 I'm showing you this because this is the set  
11 of foundational programs that we've built over time  
12 at The Progeria Research Foundation. One of the  
13 things that I'd like to point out that's most  
14 important here is that we have a registry program,  
15 and a medical and research database program, and a  
16 cell and tissue bank; all of the things that  
17 actually continue to be incredibly important in not  
18 only starting things off but continuing to succeed.  
19 We've talked a little bit here today about  
20 registries, and outcome measures, and natural  
21 history studies, and these things are incredibly  
22 important and have been in this story.

1 I see there's a little instruction here.

2 I'm going to pause for a moment.

3 (Pause.)

4 DR. GORDON: These are the foundational  
5 programs, and I just wanted to point two of those  
6 out, and we'll be revisiting those later on as  
7 well.

8 Next slide, please.

9 Alright. We started in 1999. We supported  
10 some basic research, but we really wanted to  
11 discover the gene mutation for progeria and  
12 collaborated with some wonderful labs, including  
13 that of Francis Collins who discovered the gene  
14 mutation for progeria that was published in 2003,  
15 and really, we were catapulted into a new phase.  
16 That broke us open because now we could try to  
17 understand spring boarding from the biology of  
18 disease and identify treatments based on that  
19 biology of disease.

20 Next slide, please.

21 Progeria is caused by a gene mutation in  
22 lamin A, which produces a protein called lamin A,

1 and that protein is an internuclear membrane  
2 protein that has both structural and cell signaling  
3 effects. What happens in progeria is that there's  
4 a single-based mutation in 90 percent of the kids,  
5 and that mutation leads to the production of a  
6 shortened abnormal lamin A protein called progerin.

7 Next slide, please.

8 The key to element of progerin that I'm  
9 going to focus on today with lonafarnib is that  
10 that lamin A and, hence, progerin, goes through  
11 four post-translational processing steps, and you  
12 see that here on the left. On the right, you see  
13 progerin.

14 Now, with progerin, the omission of 50 amino  
15 acids creates a problem, and that problem is that  
16 the first step that lamin A goes through is a  
17 farnesylation step, where a farnesyl group is  
18 tacked on to the end, and it makes the molecule  
19 more attractive to lipophilic and more attractive  
20 to associating with nuclear membranes. Lots of  
21 proteins use this, and that's important that this  
22 mechanism is used by hundreds of proteins because

1 that's going to tell us something about why  
2 lonafarnib was developed for other indications.

3 But what we're looking at here on the right  
4 is an inability of progerin to be defarnesylated  
5 like lamin A is. So this toxic molecule is  
6 permanently associated with these membranes.  
7 Lonafarnib was the strategy that we first started  
8 to test, saying if we don't allow progerin to be  
9 farnesylated, will that help us to create a  
10 situation where it's not associated with membranes,  
11 and it can be metabolized more quickly, and it can  
12 be less toxic to cells.

13 Next slide, please.

14 Here you see just a couple of examples of  
15 the preclinical research. We got some FTIs, not  
16 always lonafarnib, but whatever we could get our  
17 hands on. What you're seeing on the top is a  
18 normal cell, a very abnormal nucleus in progeria  
19 cells, and then how treatment with  
20 farnesyltransferase inhibitor -- in this case  
21 lonafarnib -- helps those cells to normalize, and  
22 that was a critical in vitro experiment.

1           From there, now that we knew the mutation,  
2           labs could create mouse models of progeria, which  
3           we couldn't before, and some laboratories worked on  
4           giving those mouse models an FTI, and found some  
5           improvements. Here I'm showing you some weight  
6           improvement. There were other improvements shown  
7           as well, like strength, so we had some preclinical  
8           both murine and cellular evidence that this drug  
9           might work.

10           Next.

11           This is what we did. Lonafarnib was already  
12           being used in pediatric cancer trials. The RAS  
13           protein is farnesylated. There was a pediatric  
14           cancer trial going on at the Dana-Farber. They  
15           were already giving the drug to children with this  
16           cancer, so there was a maximum tolerated dose  
17           established in pediatrics.

18           We were really, really fortunate. We sought  
19           out a wonderful principal investigator, Mark Kieran  
20           at the Dana-Farber, a neuro-oncologist, who could  
21           serve as the PI for a clinical trial, and just  
22           repurposed this for children with progeria, and we

1 developed a team of clinicians who had never seen a  
2 child with progeria before but were willing to do  
3 this for these kids.

4           We then started an investigator-initiated  
5 trial with lonafarnib at Boston Children's  
6 Hospital, and this was investigator initiated, so  
7 we didn't need to agree at that time on a primary  
8 outcome measure for drug approval. We had a  
9 primary outcome measure, rate of weight gain, but  
10 we weren't asking for drug approval at that time.  
11 Then the drug company agreed to supply the drug for  
12 the trial, not as its pipeline, but for us to use,  
13 which was pretty amazing, and we've had that happen  
14 successively with the success of companies that  
15 made that drug. This was our big launch. That was  
16 in 2007, the first-ever clinical trial for  
17 progeria.

18           Next.

19           We brought the kids in from 13 different  
20 countries speaking nine different languages. They  
21 came in together. It was pretty intensive because  
22 we were not only looking at whether this drug was

1 going to work, and giving this drug, and seeing if  
2 it was tolerated well, but also, we needed to  
3 develop more on the natural history of progeria  
4 because we didn't know enough about it to have  
5 really solid outcome measures. We had run a  
6 beautiful natural history study at the clinical  
7 center at NIH, and that was our first natural  
8 history study of that kind, but we still needed to  
9 know a tremendous amount more.

10 Next slide, please.

11 We evaluated 28 children, and we saw some  
12 benefits. We saw a very modest rate of weight  
13 gain. It was statistically significant, but it was  
14 pretty small. But we discovered some really  
15 important things, and one of them that I'm going to  
16 focus on is an improvement in cardiovascular  
17 stiffness, basically.

18 We measured that in a couple of ways,  
19 something called carotid-femoral pulse wave  
20 velocity and something called echo density, and  
21 some other things. These were all secondary  
22 outcome measures, but we're learning along the way.

1 We're learning about progeria, and we're learning  
2 about what can change in progeria, and some things  
3 changed notably, and some things did not.

4 Next, please.

5 Now, I'm concentrating here just to teach  
6 you a little bit about pulse wave velocity because  
7 I'm going to come back to it later on.

8 Carotid-femoral pulse wave velocity is essentially  
9 a measure of vascular distensibility, and children  
10 with progeria have very stiff vessels.

11 What you're seeing here is pulse wave  
12 velocity, the higher the number, the stiffer the  
13 vessel. This is caused by abnormalities in the  
14 vessel wall that have been shown on autopsy and in  
15 the mouse models. They have very high pulse wave  
16 velocity, and that was improved after two years of  
17 therapy, what you see here on the right, with  
18 lonafarnib.

19 This measure, the adult population, is a  
20 major predictor of adverse coronary events in the  
21 adult, and was back then. That's what we knew  
22 about it, and a decrease in the adult population of

1 1 meter per second correlated with lower incidence  
2 of heart attacks, so we were very encouraged by  
3 this and some of the other data we had as well that  
4 was more exploratory.

5 Next, please.

6 I'm going to show you the chain of clinical  
7 trials. This is what we did. It's highly  
8 unconventional, but I think it's really important  
9 to understand what we did and why. Here on the  
10 top, on the left, this is that first trial. I call  
11 it ProLon 1. All the kids were naïve, it was open-  
12 label, and there were 28 evaluated.

13 From there, we entertained another clinical  
14 trial that we slid right into. As the children  
15 from trial 1 were coming in for their final visit,  
16 we wanted to keep them on lonafarnib, and we held a  
17 trial, adding two drugs that we thought might be  
18 also beneficial over and above lonafarnib. We had  
19 this preliminary evidence. We were very excited.

20 None of the children went off of therapy;  
21 they just slid right into this new trial. But what  
22 happened then was extraordinary. After

1 that -- I'll call it the triple trial -- after that  
2 ended, was ending, we had more and more evidence  
3 that lonafarnib was beneficial, so we asked  
4 permission from the IRB and the FDA to not only  
5 keep children -- the children that had been on the  
6 triple therapy trial -- on lonafarnib, but switch  
7 them to just monotherapy while we continue to  
8 evaluate.

9           They also allowed us to bring in new  
10 naïve-to-therapy children and put them on the  
11 lonafarnib monotherapy without ever going on to the  
12 other two drugs, and that started in 2014, and  
13 actually through different trials is still ongoing  
14 now. I'm going to call that second group, if you  
15 look down the bottom of naïve to therapy, ProLon 2  
16 because that's going to feed into this story I am  
17 going to tell you.

18           Next.

19           This is what we found. Now remember,  
20 survival was not an outcome measure in our clinical  
21 trials. We never imagined that we could tell in  
22 two years if the drug was going to extend survival,

1 but we embarked on survival studies using our  
2 international progeria registry, essentially.

3 This is incredibly important. I mean, this  
4 was a registry that we just created to communicate  
5 and keep track of everybody around the real world  
6 with progeria and make sure that the populations,  
7 that the families, that the children all knew what  
8 was going on over time, and it remains one of the  
9 most important programs that we have because it's a  
10 communication program about what's coming down the  
11 pike and educating people. Nobody is surprised  
12 when a clinical trial comes to fruition, and  
13 there's all sorts of communication both ways.

14 We did this study. Now, what I'm showing  
15 you here, the solid line is a control group of  
16 children who did not get lonafarnib. We had a  
17 historical, going all the way back, everybody we  
18 could find, to the initial publication on progeria,  
19 but we also had a concurrent control group,  
20 children that lived at the same time as the  
21 children who came into the trials.

22 Everybody that we knew of at the time we

1 started the trials was offered the trial. So that  
2 wasn't the problem; it was just that these were  
3 children we didn't know of at the time. So we put  
4 that all together, and we published it. The dashed  
5 line shows the children that were on therapy, but  
6 the therapy was either lonafarnib or triple therapy  
7 in this publication. It was sort of a long term,  
8 look what's happening here.

9 Next, please.

10 In 2015, we were actually in a discussion  
11 with the FDA about our next clinical trial of  
12 lonafarnib plus a drug called everolimus, which is  
13 still ongoing now, and we were talking in this  
14 trial about what would be acceptable outcomes for  
15 approval because we thought pulse wave velocity  
16 would be an excellent outcome for drug approval for  
17 this trial and future trials.

18 We went to discuss this with them, and it  
19 was a really, really interesting conversation,  
20 because at the time, they said well -- we submitted  
21 our packet. Our packet was pretty robust. It  
22 included the paper from Circulation, and they said

1 to us, "Well, right now, pulse wave velocity is not  
2 strong enough either in the adult population, and  
3 also you don't have something that says pulse wave  
4 velocity relates to cardiac outcomes or outcomes in  
5 progeria either, but we're really interested in  
6 your survival study. Maybe this kind of thing  
7 might be supportable if you take apart and only  
8 examine the monotherapy."

9 Now, I want you all to know that the triple  
10 therapy, the addition of those other two drugs, did  
11 not benefit kids any more than the monotherapy as  
12 far as we could tell and have published, but we  
13 didn't really know that at the time. And even if  
14 we had, they really wanted to see the monotherapy,  
15 so that's what we did next.

16 Next slide.

17 This is what we did, and this is the  
18 interesting part. We took ProLon 1. We had that  
19 by design, but it just so happened that just  
20 because we wanted to keep kids on drugs without  
21 interruption, because we cared so much about our  
22 population and essentially were running continuous

1 clinical trials to do so and were allowed to do  
2 that. We had another population of naïve kids that  
3 had never touched the triple therapy, and I'm going  
4 to call them ProLon 2.

5 Next, please.

6 This is what happened. Dr. Brooks showed  
7 you this as well. What you're seeing on the left  
8 is just ProLon1. Blue is the concurrent control  
9 kids. Now, remember those control kids don't come  
10 from the clinical trials, but they were from our  
11 registry. The red is children on ProLon1, that  
12 first clinical trial, and the number of deaths is  
13 obviously significantly decreased; and then on the  
14 right, you see ProLon1 plus ProLon2 in the dashed  
15 line that's above.

16 With additional analyses that we, and also  
17 Eiger, the drug company that I'm going to tell you  
18 about did, their label for this drug now says that  
19 it extends average &lifespan by -- I'm going to say  
20 at least, because that's all we can tell  
21 yet -- 2 and a half years. And even one more day,  
22 that's 26 percent of the kids' lifespan. Of course

1 it's never enough, but even one day more of a  
2 healthy, beautiful life is incredibly important.

3 Go to the next slide, please.

4 The next portion of this story is also  
5 interesting. It's a bit of happenstance and luck,  
6 but it is a big part of our story. There was a  
7 company called Eiger, and Eiger was interested in  
8 lonafarnib for an indication called hepatitis  
9 delta. I think that's still a rare disease, but  
10 it's much more frequent than progeria.

11 They approached Merck, and they got a  
12 license for that, and progeria was part of that  
13 arrangement and came along, in the sense, for the  
14 ride. But it was very attractive to Eiger because  
15 look at this data that we had on survival, and all  
16 of this other data that was certainly, we hoped,  
17 bringing the menu to them and saying, "Look, you  
18 really could get this approved. Let's partner."  
19 So we did so, they were interested, and that was  
20 wonderful. They are the IND holder and got  
21 approval in 2020, our very first drug approval for  
22 progeria.

1           So from trial to approval, we had 13 years  
2 of continuous lonafarnib administration. I don't  
3 know if a drug company would ever think that way or  
4 do anything like that, but we were just thinking  
5 about getting this drug safely into the children,  
6 and the FDA and the IRB were also thinking of the  
7 same thing, so it was pretty wonderful.

8           Next slide.

9           I just want to quickly tell you how I'm  
10 looking at this now because certainly you don't  
11 want your story to be over 20 years, 13 just in  
12 clinical trials, and we want to always learn and  
13 then compress to do better and go faster for our  
14 kids or anybody that we're trying to help.

15           For progeria, the things that we're looking  
16 at now are not likely to be repurposed drugs, so  
17 there is an added challenge of drug development.  
18 What you see here on the bottom is a mouse model of  
19 progeria and lonafarnib being about 25 percent  
20 effective for increasing lifespan. But there's a  
21 small molecule, there's RNA therapeutic, there's  
22 DNA base editing, all from scratch, all first in

1 human that we're working on, and they're incredibly  
2 important.

3 Survival is not going to work because now  
4 lonafarnib is standard of care, so everything has  
5 to be over and above what happens, and survival  
6 just isn't going to cut it for those, certainly in  
7 any reasonable amount of time, but also very  
8 difficult to tease out. So our responsibility is  
9 to tease out what are the outcome measures that are  
10 going to help us here.

11 Next slide, please.

12 Since survival isn't viable, we are  
13 concentrating on the things that I've mentioned and  
14 the things that you've heard about today, so that's  
15 pretty exciting. We're developing a progerin  
16 biomarker in plasma and have been working to do  
17 that for some time now, and I'll show you that in  
18 the next slide. But since this is a  
19 disease-causing protein, we concentrated on that,  
20 and that's going to be really, really important and  
21 also, again, still looking at pulse wave velocity  
22 and correlating that hopefully with survival in

1 progeria to show that this matters in our kids and  
2 that this will matter in clinical trials.

3 Next slide, please.

4 This is just to show you an unpublished,  
5 first look at what we found with our progerin in  
6 plasma. What you're seeing here is the decreased  
7 risk, percent decrease risk, for death as levels of  
8 progerin are decreased in the plasma of kids with  
9 progeria. So we're pretty hopeful that this will  
10 become a viable primary outcome measure if  
11 possible, although we know the bar is high, but  
12 we're pretty excited about it.

13 I just want to tell you that the story along  
14 the way is we had orphan drug status I think from  
15 2011 on. That was incredibly helpful. The voucher  
16 system was helpful, very helpful. And I think what  
17 you're doing here is amazing and continuing to say  
18 we're entering new eras. We want to change; this  
19 can't be traditional anymore, and going with that,  
20 and creating new avenues for success for all of us  
21 in these rare -- but also the ultra-rare, which is  
22 even more difficult -- communities; incredibly,

1       incredibly helpful.

2               Next slide.

3               So thank you very much. Thank you for  
4 everything. Thank you for even thinking about all  
5 of this, and thank you for asking me to present.

6               DR. OTTINGER: Thank you, Dr. Gordon.

7               For our second speaker, we have Dr. Raphaela  
8 Goldbach-Mansky, and she is a senior investigator  
9 and chief in the translational autoinflammatory  
10 diseases section in the Laboratory of Clinical  
11 Immunology and Microbiology at the National  
12 Institute of Allergy and Infectious Diseases,  
13 NIAID, at NIH. She's going to share the journey  
14 towards a supplemental biologics license  
15 application for anakinra and riloncept for a  
16 deficiency of interleukin-1 receptor antagonists,  
17 DIRA.

18               **Presentation - Raphaela Goldbach-Mansky**

19               DR. GOLDBACH-MANSKY: I'm presenting a  
20 successful submission of a supplemental biological  
21 license application for an ultra rare disease,  
22 deficiency of the IL-1 receptor antagonist or DIRA.

1 My colleague, Dr. Shakoory, will follow with an  
2 example of the submission that did not result in a  
3 successful approval, both highlighting challenges  
4 of ultra-rare disease drug approval. These are my  
5 disclosures.

6 My name is Raphaela Goldbach-Mansky, and I'm  
7 chief of the translational autoinflammatory  
8 diseases section at the National Institute of  
9 Allergy and Infectious Diseases at the National  
10 Institutes of Health. My group and program  
11 evaluates patients, pediatric patients, with rare  
12 inflammatory diseases that present with fever and  
13 rashes, and we aim to identify the genetic causes  
14 and characterize, the pathogenic pathways, and  
15 molecular targets for treatment, with the goal to  
16 develop proof-of-concept studies that provide  
17 better treatments for those patients.

18 Untreated disease results in organ damage,  
19 morbidity, and early mortality. There are  
20 50 genetic causes of rare autoinflammatory diseases  
21 in the INFEVERS database. Of those, there are only  
22 five diseases that have approved treatments,

1 including the one that I'll be talking about today.

2 This points to a wider problem of rare  
3 diseases. The Orphan Drug Act defined rare  
4 diseases of less than 200,000 in the U.S. The  
5 monogenic diseases I showed you have prevalences of  
6 less than 1 in a million, with less than  
7 300 patients in the United States.

8 The disease I'll present today has a  
9 worldwide prevalence of somewhere around  
10 30 patients with that disease, and that actually  
11 illustrates the mounting challenges of a wider  
12 group of rare conditions, where 80 percent of  
13 patients with rare diseases suffer from around  
14 300 diseases and 20 percent from over 6,500,  
15 illustrating a need to design studies for these  
16 ultra-ultra rare diseases that facilitate and  
17 accelerate a drug approval process.

18 What drove me to seek approval is the  
19 ability to secure access to long-term treatment, as  
20 patients with successful treatments who require  
21 chronic care often do not get assurance approval of  
22 prescriptions for drugs that are not approved for

1 their condition. Furthermore, if approved, the  
2 co-pays are often so high that patients can't  
3 comply, and they are not eligible for  
4 patient-assist programs because the drug they are  
5 asking for is not approved for their condition.

6 DIRA is a disease we discovered. A severe  
7 patient was initially treated empirically with the  
8 IL-1 receptor antagonist, anakinra, and had a  
9 tremendous recovery, and targeted gene searches  
10 resulted in the discovery of recessive loss of  
11 function mutations in a gene that encodes the IL-1  
12 receptor, the endogenous IL-1 receptor antagonist.

13 The impressive treatments with recombinant  
14 IL-1 receptor antagonist, anakinra, forged a  
15 concept where mutations that regulate the  
16 proinflammatory cytokine IL-1 -- such as those  
17 resulting in gain of function of a sensor that is  
18 associated with increased production or with the  
19 absence of a negative regulator IL-1 receptor  
20 antagonist that causes DIRA -- result in amplified  
21 IL-1 signaling and therapeutic strategy to block  
22 results in clinical remission of the inflammation,

1 impressive results which really generated the proof  
2 of concept of a significant role of IL-1 in these  
3 conditions and was a compelling mechanism of action  
4 that supported the regulatory approval of DIRA.

5 We followed 9 patients at the NIH on a  
6 natural history study, where they received  
7 treatments, many through the NIH because they could  
8 not access drug at the outside. In 2013,  
9 Dr. Montealegre, who was a staff clinician in my  
10 group at that time, led a study, a pilot study,  
11 using a long-acting IL-1 inhibitor, riloncept, and  
12 enrolled 6 patients DIRA, and started data analysis  
13 in 2014, showing that the drug, riloncept, kept  
14 patients in remission.

15 First steps to a submission came from a  
16 discussion with the FDA in 2015, highlighting the  
17 challenges of providing treatment, which led to the  
18 FDA reminding me of the rare disease programs, or  
19 orphan disease designation programs, and reaching  
20 out to Regeneron, reminding them of the opportunity  
21 to file a supplemental biological license  
22 application.

1           In October 2016, after discussions,  
2           Regeneron agreed to file an sBLA for rilonacept in  
3           DIRA and a briefing book. The database formatting  
4           and a clinical study report, together with  
5           the analysis and publication of the data, occurred,  
6           and in January 2018, a Type B meeting with the FDA  
7           led to further discussion and to the FDA  
8           encouraging co-filing of a supplemental biological  
9           license application, including anakinra, the  
10          recombinant IL-1 receptor antagonist, which  
11          patients had received before they were switched to  
12          rilonacept.

13                 Regeneron, the company, the maker of  
14          rilonacept, endorses the plan for a co-submission,  
15          and in March we held a conference call between  
16          Sobi, the maker of anakinra; Regeneron, the maker  
17          of rilonacept; and the NIH to discuss feasibility  
18          of the co-submission, which was pretty much  
19          endorsed and thought to be feasible. Regeneron  
20          completed, with a contract research organization,  
21          ICON, the regulatory documents, including the  
22          clinical study report and the formatting of the

1 data for FDA submission.

2 A short interruption came when Sobi  
3 management was unable to support a DIRA  
4 co-submission to drain sufficient resources.  
5 However, the NIH, or the NIAID leadership, provided  
6 me with funds to hire a CRO to help with the  
7 filing, and in that context, Sobi endorsed the  
8 co-filing and committed to drafting the regulatory  
9 modules and draft labels, which were required, and  
10 are required, to be submitted, including the  
11 preclinical data that support a supplemental  
12 biological license application.

13 The FDA had further requested that we define  
14 the study periods clearly. We had 9 patients, and  
15 all had pretreatment, IL-1 blocking treatment data,  
16 on anakinra, and six were switched to the  
17 riloncept study, as I mentioned. After two years  
18 on the riloncept study, five of those could not  
19 secure a drug through their insurances and switched  
20 back to anakinra; that at that time, we had  
21 received as a donation to support patients who were  
22 unable to obtain drug.

1           For the submission, anakinra and rilonacept  
2           had been approved for another IL-1-aided disease,  
3           cryopyrin-associated autoinflammatory disease with  
4           the subtypes of FCAS and Muckle-Wells, and anakinra  
5           for NOMID, and dosing and safety in these  
6           populations have been available.

7           Working with the CRO, we needed to extract  
8           the data, the anakinra data, that were collected on  
9           a natural history at the NIH, and from documents of  
10          hospital admissions, and outside physician records  
11          that were provided to us. The data were monitored  
12          by the CRO, the CRO assistant, with the development  
13          of a statistical analysis plan, clinical study  
14          report, and committed to helping with the summaries  
15          required for the regulatory submission and the  
16          draft labeling.

17          The statistical analysis plan had no formal  
18          sample size or power calculation, as this was  
19          retrospective data analysis. Remission rates were  
20          computed as rates with 95 percent confidence  
21          intervals for time windows that had retrospectively  
22          been established as meaningful: day 2 to 6 months;

1 6 months to 12 months; 12 months to 2 years; and  
2 greater than 2 years. Then at the final NIH visit,  
3 paired t-tests were used to compare baseline to the  
4 suggested time windows for the outcomes that I'll  
5 actually discuss in a minute, and hospitalization  
6 rates of pretreatment and on treatment where  
7 calculated.

8 Primary endpoint was remission, and that  
9 included absence of clinical signs and symptoms;  
10 that of DIRA were pustulosis aseptic  
11 osteomyelitis and elevated acute phase reactants,  
12 indicating systemic inflammation. CRP, an acute  
13 phase marker, had to be normal. Their absence of  
14 clinical disease, already graphic evidence of  
15 inflammation, and patients had to wean off  
16 glucocorticosteroids.

17 Secondary end points included reduction of  
18 glucocorticosteroids, and then normalization of  
19 markers of inflammation, including separate CRP  
20 white blood cell count and platelet count;  
21 normalization of hemoglobin; improvement and  
22 normalization of anthropometric and developmental

1 outcomes, including height, weight, and bone marrow  
2 density.

3 Hospitalization rates were requested by the  
4 FDA to be collected and were compared. We also had  
5 collected patient-reported outcomes, including a  
6 disability index, a disease burden module, as well  
7 as physician and patient global, as well as patient  
8 pain evaluations.

9 I'll summarize the efficacy conclusions  
10 briefly. In essence, all patients achieved  
11 inflammatory remission off glucocorticosteroids  
12 with anakinra treatment and the remission was  
13 maintained with rilonacept. Untreated, the  
14 mortality of the disease is estimated to be close  
15 to -- well, at least over 50 percent, and long-term  
16 survival of untreated patients are not known.

17 The growth parameters improved, and the  
18 hospitalization rate shrank from over 40 percent of  
19 the time alive to less than 0.6 percent through  
20 pretty much elective surgeries. Questionnaire data  
21 and patient-approved outcomes improved  
22 significantly. Safety of anakinra and rilonacept

1 were good, and drugs were well tolerated, and  
2 longer term safety data were available for the  
3 other diseases.

4 In addition to the stated documents, we  
5 submitted documents documenting the natural history  
6 of the disease, which mainly was a summary of the  
7 description of the patients that we followed at the  
8 NIH and a summary of the published literature.  
9 There are a total of 28 patients known; nine had  
10 died prior to making the diagnosis and nine were  
11 followed at the NIH. We also generated narratives  
12 on the 9 patients, summarizing pre- and  
13 post-treatment data.

14 In November 2019, a pre-sBLA meeting between  
15 FDA, NIH, and the two manufacturers, Sobi and  
16 Regeneron, took place, and in June, a parallel  
17 supplemental biological license submission of  
18 riloncept and anakinra occurred with a successful  
19 approval in December of 2020.

20 Anakinra was approved for naïve patients at  
21 a starting dose of 1 to 2 milligram per kilo daily  
22 with a maximum of 8 milligrams, and riloncept was

1 approved for maintaining remission in patients  
2 weighing more than 10 kilos.

3 With that, I want to thank all those who  
4 have been involved in this tremendous effort. I'd  
5 like to thank the FDA for the encouragement;  
6 Dr. Montelegre, Gema Souto-Adeva, Jenna Wade, and  
7 Lena Bichell for their work on extracting and  
8 generating the data on anakinra; the CRO, ICON, for  
9 their invaluable help in monitoring and generating  
10 the documents required; Regeneron and Sobi for  
11 their willingness to work together; and the  
12 tremendous compassion I've seen in many tools  
13 support; the submission for this rare disease and  
14 for their compassion towards patients; and the  
15 Autoinflammatory Alliance for their support.

16 I won't be able to answer questions in  
17 person, but I would be delighted to receive emails  
18 and support your efforts in any way I can, so  
19 please reach out. Thank you.

20 DR. OTTINGER: Thank you.

21 Our third speaker is Dr. Bitá Shakoory, and  
22 she is also at NIAID in the translational

1 autoinflammatory diseases section. She's going to  
2 discuss baricitinib for autoinflammatory  
3 interferonopathies.

4 **Presentation - Bitu Shakoory**

5 DR. SHAKOORY: Hello, everyone, and thank  
6 you very much for having me. I will go over our  
7 experience with the use of baricitinib in patients  
8 who have CANDLE, and CANDLE stands for chronic  
9 atypical neutrophilic dermatosis with lipodystrophy  
10 and elevated temperatures.

11 You have all heard, "If you hear hoofbeats,  
12 think of horses, not zebras." In rheumatology, we  
13 are trained to identify zebras among a huge herd of  
14 wild horses, based on hoofbeats, stripes,  
15 et cetera, et cetera. But in translational  
16 autoinflammatory disease section, we get to talk  
17 about dotted zebras, so we go beyond just  
18 identifying zebras.

19 So next slide, please.

20 By the way, that dotted zebra is actually  
21 identified in Kenya.

22 In this discussion, we are going to go

1 over a little bit of discussion about CANDLE and  
2 how baricitinib can be helpful in these patients.  
3 We're going to have an overview of baricitinib  
4 study in CANDLE, the challenges and obstacles that  
5 we have had, and lessons that we learned from  
6 communications and submission to the FDA, and how  
7 we have learned lessons in moving forward and  
8 improving the results.

9 Next, please.

10 The genetic discovery of the three monogenic  
11 interferonopathies between 2006 and 2014 provided  
12 us the pathomechanistic insights into type 1  
13 interferon production in sterile  
14 immunodysregulatory conditions, and then led to  
15 clinical trials for blocking the interferon  
16 signaling pathway as a therapeutic strategy.

17 These three diseases, Aicardi Goutieres  
18 syndrome; the PRAAS/CANDLE, as we mentioned; and  
19 SAVI, which is STING -- now I'm blocking on the  
20 name of the disease. It's STING -- well, let's go  
21 to the next slide. I will tell you when I remember  
22 it.

1           After the disease was identified, it was  
2 very difficult to be able to treat these patients  
3 until we were able to treat these patients with JAK  
4 inhibitors.

5           In October 2011, we initiated a  
6 compassionate use and extended access study with a  
7 JAK inhibitor, baricitinib, and enrolled  
8 10 patients with CANDLE, four with SAVI, and four  
9 with CANDLE life diseases, patients who didn't have  
10 a genetic confirmation but their disease phenotype  
11 did resemble CANDLE patients.

12           We enrolled these patients at NIH, and  
13 Dr. Vanderver at CHOP enrolled 36 patients with  
14 Aicardi Goutieres syndrome, and then later  
15 5 patients with juvenile dermatomyositis were  
16 enrolled as well.

17           In the initial communication with FDA, the  
18 indication for baricitinib for interferonopathies  
19 could not be accepted, and we were asked to submit  
20 response data by disease only, so as a result, we  
21 focused on CANDLE. We had enrolled 10 patients and  
22 had seen most impressive clinical results in

1 CANDLE. The stars you see here are related to  
2 issues that we will get back to.

3 Next slide, please. If you can go back to  
4 the previous slide.

5 I have to also mention that the study that  
6 we initiated, at the time we started the study,  
7 there were no pediatric dosing, no PK or PD data in  
8 children, and there were no template or guidance  
9 for dose adjustment, and no endpoints or outcomes  
10 were defined.

11 So we had to basically start from scratch  
12 and do reductions, and do basically dose  
13 adjustments. We looked at all of the outcomes,  
14 endpoints, and we identified, basically, reductions  
15 in daily diary scores; corticosteroid requirements;  
16 quality of life; organ inflammation; and changes in  
17 biomarkers, namely interferon-induced biomarkers  
18 for defining the endpoints in this study.

19 Next, please.

20 This figure shows the impact of blocking the  
21 interferon receptor response by blocking the  
22 downstream mediator, JAK inhibitor, to a lesser

1 extent, to inhibitor on clinical features and  
2 biomarkers. Fifty percent of CANDLE patients  
3 actually achieved the clinical remission that  
4 included very strict parameters of no clinical  
5 symptoms. That include fever, rash, headaches, and  
6 musculoskeletal pain. They normalized their  
7 inflammatory markers completely, which includes CRP  
8 and ESR, and they basically were able to come off  
9 steroids completely.

10 In addition, all the patients who achieved  
11 remission normalized their interferon signature  
12 response gene and validated biomarker of interferon  
13 signaling. All the patients benefited. Even those  
14 who did not achieved remission, they still  
15 benefited from the drug, and they were able to have  
16 improvement in their symptoms, lower steroids, and  
17 improve quality of life.

18 This was the first time that patients with  
19 CANDLE actually faced a possibility for treatment,  
20 though optimal doses that were required for  
21 achieving this improvement were about almost  
22 2 times the doses that were given to rheumatoid

1 arthritis patients that were 4 milligrams per day.

2 Now, we did observe reactivation of the BK  
3 virus and HZV, which we closely monitored. We did  
4 not see any of the safety signals that were  
5 observed in adult patients with rheumatoid  
6 arthritis.

7 Next slide, please.

8 These images basically show the face of  
9 patients with CANDLE, figuratively. In these  
10 images, you see how there's improvement in  
11 panniculitis in the face, mainly around the eyes.  
12 And in, basically, the middle image, you see the  
13 patient who is a 14-year-old girl. You can see the  
14 change from pretreatment stature to post-treatment  
15 stature in the 36 months after the start of  
16 treatment with baricitinib.

17 Next slide.

18 Here, you see the timeline for the  
19 baricitinib trial in 2011 to 2017. We undertook  
20 the compassionate use NIH protocol with Eli Lilly.  
21 In 2016, in parallel, you see what's happening with  
22 baricitinib. In 2016, baricitinib was approved in

1 Europe for rheumatoid arthritis, and in 2017, FDA  
2 rejected baricitinib for use in RA in the U.S. So  
3 what you see is the persistent remission in  
4 50 percent of CANDLE patients in our study, and the  
5 narrow therapeutic window does not allow higher  
6 doses.

7 In 2018, while FDA approved the use of  
8 baricitinib in rheumatoid arthritis, we filed for  
9 sBLA for CANDLE with FDA, and in January 2020, at  
10 the time that we had an appointment to have a  
11 Type C meeting with FDA, FDA canceled the  
12 appointment because they felt there was not  
13 adequate data to make a risk-benefit assessment  
14 decision in this trial for this drug.

15 Next slide, please.

16 Basically, the main criticism was limited  
17 data and small numbers, but at the time, as I  
18 mentioned, there were just 10 patients that were  
19 identified. They suggested use of comparable  
20 external, historic controls, and then we decided in  
21 discussion with Lilly to undertake rigorous data  
22 collection and documentation of every single bit of

1 historic data. They suggested that we needed to  
2 use the historic controls that had comparable  
3 endpoints and show objective changes in core  
4 clinical outcomes, such as survival.

5           So we decided, okay, we were going to  
6 collect the data, but also, longitudinally, we were  
7 going to integrate the data from various  
8 physicians, hospitals, and define the flares based  
9 on withdrawal data whenever we had to withdraw any  
10 patients from the study. We documented the safety  
11 narratives and endpoints in order to address some  
12 of the FDA concerns.

13           They felt that there were limited data on  
14 safety, and because of the unblinded nature of the  
15 study, there was risk of bias. Also, they felt  
16 that the risk of the age of the patients and the  
17 disease on PK was not very clear, which we  
18 understood completely, but this had not been  
19 extensively studied prior to that. They felt that  
20 the outcomes were not very objective.

21           One of the points they brought up was  
22 caution against the use of proxies in their

1 reports. Keep in mind that some of our patients  
2 are very young, somewhere between 2 years-3 years  
3 old, and the daily diary is basically completed by  
4 caregivers, parents, and guardians. Actually,  
5 these diaries, this is basically considered  
6 observer-reported outcome and not proxy, which  
7 requires, basically, the proxy data entry would  
8 indicate that the person who is completing the form  
9 is actually entering their own experiences rather  
10 than the patient's experience, but our diaries  
11 clearly collect the data based on what is observed.

12 Next slide, please.

13 In order to overcome the challenges that  
14 were mentioned, we collected the historical data,  
15 and we did a complete literature review and  
16 combined information from every single patient that  
17 was done, and combined those with our cohort data.

18 Next slide, please.

19 We also included the dose-reduction data  
20 whenever we came across a patient that needed dose  
21 reduction, and we showed the increase in clinical  
22 flares and associated laboratory changes.

1           Next slide, please.

2           We submitted then an enhanced briefing  
3 package and tried to address the FDA feedback. We  
4 submitted all that in September 2020 to FDA, and  
5 then FDA granted the pre-NDA Type C guidance  
6 meeting. Keep in mind that, simultaneously, in  
7 2018, there were safety concerns to arise about the  
8 use of JAK inhibitors in rheumatoid arthritis  
9 patients, and in 2019, based on these concerns, an  
10 extensive multicenter safety study, postmarketing  
11 safety study, in rheumatoid arthritis is started.  
12 So when we, basically, met with FDA in 2021, at  
13 that time the data from the safety study was pretty  
14 much emerging. At that time, in January 2021, the  
15 representatives from the rare disease office also  
16 were present in the meeting.

17           Next slide, please.

18           The feedback that we received, they felt  
19 like the data was inadequate to support  
20 risk-benefit assessment. To overcome this, they  
21 suggested a randomized withdrawal study. They  
22 emphasized that our endpoints were based on daily

1 diary score and that this was unacceptable.

2 The review of the published cases, which  
3 included all of the existing cases in the  
4 literature and any patient that was there with this  
5 disease, was inadequate. They also felt that our  
6 prospective endpoint data was inadequate and  
7 historic data was unclear, which included,  
8 basically, very detailed information, and they felt  
9 there was heterogeneity in the disease and in the  
10 treatment effect.

11 Then they felt the mission was not  
12 sustained; biological plausibility was not well  
13 explained, and there was risk associated with  
14 higher dosing, and concern about risk of  
15 thromboembolic events and serious infections, even  
16 though about 10 years of data did not show any of  
17 that in the pediatric population with  
18 interferonopathies.

19 So we looked at this basically objectively.  
20 There were modifiable aspects and non-modifiable  
21 aspects. We asked whether or not we had done the  
22 data justice by the way we presented it, and we

1 also felt like maybe publishing the data in  
2 peer-reviewed journals would be more helpful. We  
3 also looked at the FDA rare disease guidance  
4 document. Based on all of this, we felt like we  
5 had done everything we could in presenting the data  
6 in an ultra-ultra rare disease to FDA.

7 Our endpoint was not based on daily diary  
8 score alone; it was based on daily diary score as a  
9 small part of it, but in addition we had an  
10 extensive list of biomarkers and objective data  
11 collection by the physician. We also felt like  
12 maybe we could expand our patient cohort for the  
13 trials by collaborating with a couple of other  
14 centers worldwide. However, our patients were from  
15 various countries, and this would not add a very  
16 huge amount to our effort. We could also reference  
17 other small diseases and better defined treatment  
18 response parameters.

19 There were non-modifiable factors such as  
20 morbidity and mortality of CANDLE that we really  
21 could not do much about. There were patients in  
22 our cohort who were taken off the medicine because

1 of adverse events, who died as a result of not  
2 receiving any treatments, and there were concerns  
3 about the safety profile of JAK inhibitors that was  
4 out of our hands. But when we look at things from  
5 risk-benefit ratio, if these patients die or have  
6 significant morbidities when not treated, then it's  
7 kind of like these are relative in the sense of how  
8 bad is the disease, really, as Dr. Pippin was  
9 mentioning in the previous session.

10 We cannot do much about the number of  
11 patients or the length of historic data. The  
12 disease was discovered in 2010, and we started  
13 collecting data in 2011, so there wasn't much we  
14 could do about it. We couldn't do anything about  
15 negative publicity associated with JAK inhibitors  
16 and the barriers of multicenter studies, a  
17 coordination between U.S. and UK.

18 So all of this led to a decision, along with  
19 Lilly. We also felt like the patient-reported  
20 outcome component of endpoints, along with  
21 reduction of steroid dose and disease-specific  
22 improvements, were valid endpoints for the disease.

1 So based on all of the above, we did not feel that  
2 making any changes would make a difference in the  
3 response we would receive from FDA.

4 Next slide, please.

5 So after the meeting with FDA, after much  
6 discussion, we decided not to pursue withdrawal  
7 studies, especially because those patients who were  
8 stable on baricitinib would not be interested in  
9 it, and it was not ethical to try to remove them  
10 from the medicine.

11 After we did that in the summer of 2021, in  
12 September 2021, FDA issued a black-box warning  
13 based on postmarketing safety data in tofacitinib,  
14 baricitinib, and upadacitinib, so it kind of seemed  
15 like this was a bit of predicted response.

16 Let's go to the next slide, please.

17 We tried, but we failed. We failed all of  
18 these faces, all of these children that you see  
19 here; 11 years of hard work by NIH and Lilly, but  
20 most importantly we did not have approved drug for  
21 the patients and no approved treatment.  
22 Baricitinib is not covered by insurance companies.

1 Patients are not eligible for co-pays in this  
2 program. They can only receive this from NIH  
3 through on-site pick up, 11 years of trial  
4 participation, which is definitely not easy for  
5 these young kids.

6 We feel like we have failed these kids, and  
7 even though there is a drug that can really help  
8 them, we were not able to convince FDA that it  
9 would be worth approving it for them.

10 Next slide, please.

11 So there are lessons that we learned. We  
12 realize that there are things that an investigator  
13 can contribute such as detailed documentation and,  
14 basically, identifying the best outcomes for the  
15 disease; documenting the safety data; and flare and  
16 response criteria, which we were able to define for  
17 this illness.

18 We were able to learn and optimize our  
19 statistical analysis. We also were able to  
20 fine-tune enrollment of international patients in  
21 collaboration with other major centers. It's  
22 something that we're really exploring for our next

1 trials. We have learned the importance and the  
2 ways for IRB approval and patient consent. We have  
3 now sent in sample collection and sample storage  
4 for our future analysis as part of a network. We  
5 are building our infrastructure, and part of that  
6 is the platform trials and methodological  
7 innovations, as was discussed in the previous  
8 session.

9 Next.

10 Drug component, it's important to collect PK  
11 and PD data. PD modeling and dose-adjustment  
12 algorithms, we have learned they should be in  
13 place, then we need to, basically, have extensive  
14 data about biomarkers and metabolites as much as  
15 possible.

16 Next.

17 The protocol component, as mentioned, we  
18 have thought about crossover design, but this  
19 requires a placebo arm, and the placebo arm in a  
20 disease like this, where no standard treatment is  
21 available, becomes a problem and an ethical issue.  
22 The withdrawal study, as I mentioned, there are

1 ethical issues as well, and we're looking into  
2 novel trial designs.

3 I'm almost done.

4 Next slide, please.

5 I think the most important part for us is  
6 that we are hoping to start a dialogue with  
7 regulatory authorities about some flexibility for  
8 rare diseases and rare disease discoveries,  
9 innovative trial designs, and manageable regulatory  
10 requirements where it's not possible to undertake  
11 two trials, or it's not possible to define  
12 endpoints, and we have to kind of do this along the  
13 way.

14 We need to establish differences between  
15 adults and kids; that children are not small-size  
16 adults, and that all the adverse events that happen  
17 in adults necessarily do happen in kids and vice  
18 versa. The other aspect is that death is not the  
19 only poor outcome. As you saw in those children,  
20 even if a patient doesn't die, they may have  
21 complications that may be worse.

22 So we're hoping to be able to define

1       autoinflammatory outcomes that assure investigators  
2       of acceptance for existing and novel treatments  
3       that are yet to be discovered for rare diseases,  
4       and thank you.

5                               **Session 2 - Questions and Answers**

6               DR. OTTINGER: Thank you to all our  
7       speakers. We will not have too much time; maybe  
8       for a couple of questions.

9               Are all the panelists on currently?

10              DR. SHAKOORY: Yes.

11              DR. OTTINGER: I don't know. There were  
12       some detailed questions, but I thought maybe to  
13       start with more of a larger question, if anyone  
14       wanted to take it. All of these were long stories  
15       of the winding road that you had to go on through  
16       the process, so I'm just wondering -- it's always  
17       when you look at the end and look back at the  
18       beginning -- is there anything really important or  
19       advice you'd like to give when someone starts this  
20       process of a possible drug to test that you've  
21       learned?

22              One thing was, Dr. Gordon, when you were

1 talking about the first trial that you did, the  
2 open label, was there anything, if you would go  
3 back, that you would do differently in the hopes of  
4 collecting more data?

5 DR. GORDON: It's a very, very, very good  
6 question. Everybody wants to know, how could  
7 you -- I want to look forward and say, how can we  
8 be better, and stronger, and faster? We just  
9 wanted to get into a clinical trial which we  
10 thought was something that might be helpful; every  
11 single child was going to die.

12 I can tell you about things that felt like  
13 they made a big difference in the long run that are  
14 kind of boring. We were in Excel spreadsheets, and  
15 you need to be in REDCap, or you need to be in  
16 something where, in the end, when you try to apply  
17 for your FDA approval, you don't have this mountain  
18 of, okay, how can we make this regulatory ready and  
19 audit ready?

20 Those are things that you can write those  
21 down. But not really, because we were in trial,  
22 and if we had waited until we had an acceptable

1 primary outcome for approval, we might not ever  
2 have started, because then that drug went away for  
3 cancer.

4           So I don't know that I regret any anything  
5 with that. I would say that learning from what  
6 we've done -- us, and everybody here, and  
7 others -- I hope it helps FDA to think about how  
8 they want to change things for folks with  
9 ultra-ultra rare diseases, and others to say how  
10 can we springboard off of this to be better,  
11 stronger, faster.

12           I think that's pretty general. I mean, we  
13 got in. We got in. We did what we needed to do.  
14 It may have ended without an approval, but we  
15 needed to see these kids on drug, and then once we  
16 realized we thought we had something, we needed to  
17 keep them on it. And everybody worked together to  
18 do that; an amazing amount of cooperation and  
19 collaboration.

20           DR. OTTINGER: Sorry. Did anyone else want  
21 to add to that?

22           DR. SHAKOORY: I think for us, not only has

1 early communication with FDA been important, but  
2 one of the things we are realizing is implementing  
3 factors that would allow -- basically expanding our  
4 infrastructure to allow a more efficient data  
5 collection and analysis, patient recruitment,  
6 et cetera, et cetera, so that we can make the best  
7 use of our time and the best use of the limited  
8 number of the patients that we have. That's one of  
9 the important lessons that we have learned. With  
10 so few patients, it's just more difficult if we  
11 don't make the best use of all the data that we can  
12 get.

13 DR. OTTINGER: I had one other general  
14 question, and it is the small number of patients.  
15 I was wondering how, you as both researchers and  
16 part of your disease communities, when there's  
17 multiple things that come along to test, how are  
18 communities dealing with that in terms of being  
19 able to run the clinical trials?

20 DR. GORDON: Bitu, did you want to go, or I  
21 could go?

22 DR. GOLDBACH-MANSKY: I could maybe try.

1           Can somebody hear me?

2           I think this is a very good question, and I  
3 think we do need adaptive trial designs that allow  
4 patients with rare diseases from [inaudible - audio  
5 gap] -- to another. We can deal with multiple  
6 protocols. [Inaudible - audio gap] -- with a  
7 number of small patient cohorts. It's really  
8 unsustainable and it's quite stressful.

9           So I think we need to get assistance also by  
10 the regulatory authorities to use adaptive trial  
11 designs and to use, as baseline, the pretreatment  
12 data that basically can then be compared to varying  
13 drugs. I think there is no other way of dealing  
14 with such a challenge, and [inaudible] -- where we  
15 can be much faster in making these drugs available;  
16 otherwise, we'll always be running behind in our  
17 approval process.

18           DR. OTTINGER: I don't know if anyone else  
19 had anything else quickly to add, otherwise, there  
20 were a few specific questions. I don't know if you  
21 saw them and if anyone wanted to answer anything  
22 specific to what they saw of the questions coming

1 in.

2 I know, Dr. Gordon, there were a couple  
3 related to your project.

4 DR. GORDON: Well, I'm more than happy to do  
5 post-workshop postings, or emails, or anything like  
6 that, of course, and I'm sure we all are.

7 DR. OTTINGER: Great.

8 We're at 12:07, so I think we don't want to  
9 go too much longer. I think we'll probably end  
10 here, and everyone can answer individual questions  
11 and really try to address the questions that come  
12 in. We appreciate everybody's questions that did  
13 come in.

14 I just want to remind everyone that this is  
15 now a break for lunch, so we'll see everybody back  
16 here at 1:00 p.m. Thank you, again, for  
17 participating so far and really look forward to  
18 seeing you at 1:00. Thank you.

19 (Whereupon, at 12:08 p.m., a lunch recess  
20 was taken.)

21

22

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

A F T E R N O O N S E S S I O N

(1:00 p.m.)

**Session 3**

**Katie Donohue - Moderator**

DR. DONOHUE: Good afternoon, everyone, and welcome to Session 3 on Core Principles for Clinical Trials.

My name is Katie Donohue, and I'm the director of the Division of Rare Diseases and Medical Genetics at the FDA, and I'm thrilled to be here with you today, with two panelists who are two of my closest collaborators, Dr. Jack Wang, who is a clinical pharmacologist, and Dr. Yan Wang, who is a statistician.

We're going to go through a couple of common challenges and a variety of potential solutions for those challenges when it comes to designing clinical trials for patients with rare diseases, and in particular making the most of small trial sample sizes.

With that, I want to introduce Dr. Jack Wang, who is a clinical pharmacologist. He's a

1 team lead in the Division of Translational and  
2 Precision Medicine, Office of Clinical Pharmacology  
3 at the FDA. I work with him closely. He knows  
4 more than almost anybody else about how to pick the  
5 right dose for patients with rare diseases, and  
6 he's going to start off today with a couple of  
7 slides, marching through some of those challenges  
8 and common strategies for how we address them.

9 So with that, I'll turn it over to you,  
10 Jack.

11 **Presentation - Jie (Jack) Wang**

12 DR. J. WANG: Thank you, Katie.

13 Good afternoon. My name is Jack Wang. It  
14 is my pleasure to participate at this workshop. I  
15 hope my presentation will be helpful for academic  
16 investigators and the pharmaceutical companies  
17 developing drugs for rare disease. The topic for  
18 my presentation is Dose Optimization for Rare  
19 Diseases.

20 Next slide, please.

21 This is my disclaimer.

22 Next slide.

1           Why are dose selection and optimization  
2 important? I would like to share the results of  
3 two surveys. The first survey, based on more than  
4 300 new drug applications, showed that uncertainty  
5 in dose selection was the leading cause of failed  
6 new drug applications. The second survey, based on  
7 40 approved new drug applications for rare genetic  
8 diseases, recently conducted by my acclaimed former  
9 colleagues at FDA, showed 82 percent of approved  
10 new drug applications had a dose-finding component.

11           Next.

12           With the importance of dose finding as  
13 background information, in the first part of my  
14 presentation, I will give an overview of clinical  
15 pharmacology principles in dose optimization. The  
16 second part will focus on the use of biomarkers in  
17 dose selection and as confirmatory evidence of  
18 effectiveness. We'll briefly introduce an adaptive  
19 trial design for dose selection and optimization.  
20 Takeaway messages will be provided, and a few case  
21 examples will be discussed in the presentation.

22           Next.

1           With a final goal of dose optimization and  
2 therapeutic individualization, for every new drug  
3 application, the clinical pharmacology reviewer  
4 will need to address two peer-reviewed questions.  
5 First, is the proposed dosing regimen appropriate  
6 for the general patient population? And second, is  
7 an alternative dose regimen needed for  
8 subpopulations?

9           To answer these two peer-reviewed questions,  
10 the reviewer will assess exposure-response  
11 relationships for efficacy and safety and to  
12 identify potential intrinsic and extrinsic factors  
13 that may influence the disease, exposure, and a  
14 response.

15           Next slide, please.

16           Specific clinical pharmacology studies are  
17 needed for the reviewer to assess intrinsic,  
18 extrinsic, and other factors affecting exposure and  
19 response of your drug product to ensure you have a  
20 complete clinical pharmacology program in your  
21 early interaction with FDA in the IND stage. For  
22 example, in the pre-IND meeting, you probably will

1 receive a long list of standard comments. It is  
2 important that you discuss with FDA your specific  
3 drug development program; which clinical  
4 pharmacology studies are needed; when do you need  
5 those studies; and what are the potential  
6 alternative approaches?

7 Next. Next slide, please. Sorry. Go back  
8 one slide.

9 Let's look at what an exposure-response  
10 relationship means. Exposure refers to different  
11 dose levels or drug concentrations. Without  
12 exposure information, it is not possible to  
13 evaluate exposure-response of your drug product,  
14 therefore clinical pharmacology reviewers will  
15 assess your IND protocols very carefully to make  
16 sure you are collecting PK data in the trial  
17 design. Response could include either desirable  
18 clinical response and undesirable clinical  
19 response. Exposure-response analysis is to relate  
20 the drug concentrations to clinical responses.  
21 This is often done by a modeling approach.

22 Next.

1           Exposure-response information plays a  
2 critical role in the regulatory decision making  
3 such as to guide the dose selection; to provide  
4 evidence of effectiveness; to recommend dosing  
5 regimen in specific patient populations like  
6 pediatric patients; and to assess substantial  
7 safety endpoints, for example, QT prolongation.

8           I have provided a few important FDA  
9 guidances in this slide and will provide a few case  
10 examples later in the presentation.

11           Next.

12           How about our current experience in  
13 dose-finding studies for rare disease programs? In  
14 the same survey we conducted for the 40 approved  
15 new drugs for rare genetic diseases, only  
16 53 percent of the applications conducted dedicated  
17 dose-finding studies. Population PK and  
18 exposure-response analysis, however, were used in  
19 the majority of the applications.

20           The survey results indicated two things.  
21 First, there is a long way to go to convince other  
22 sponsors to conduct dose-finding studies in their

1 rare disease programs; and second, on the other  
2 hand, the good thing is sponsors are aware of the  
3 regulatory expectations on population PK and  
4 exposure-response analysis and have used it as  
5 alternative approach to dose selection.

6 Next.

7 Here are three case examples using  
8 population PK and exposure-response to support a  
9 dosing recommendation in an NDA or BLA. In the  
10 first example, lonafarnib, approved for progeria  
11 indications, the PK and exposure- response  
12 information supported expanding the indication from  
13 2 years of age and older to patients 1 year and  
14 older.

15 In the second example, fosdenopterin,  
16 approved for MoCD type A, the PK stimulation  
17 supported a dose adjustment in patients less than  
18 1 year of age. In the third, avaglucoisidase alfa,  
19 approved for Pompe disease, PK stimulation was used  
20 to extrapolate the indication from 16 years of age  
21 and older to 1 year of age and older.

22 These three examples demonstrate a general

1 approach, using PK and exposure-response  
2 information to justify dosing in a subgroup of  
3 patients that were not started in clinical trials.

4 Next.

5 To summarize the first part of my  
6 presentation and to provide to you some important  
7 additional reminders, I would like to emphasize a  
8 few takeaway messages. First, conduct organ  
9 impairment studies and specify organ functions in  
10 inclusion/exclusion criteria of the study protocol.

11 Second, conduct at least in vitro DDI  
12 studies before the first-in-human trial and update  
13 is allowed on the prohibited co-medications in  
14 clinical trial protocols as DDI data evolves.  
15 Third, for oral drugs, investigate food effect  
16 early and specify food conditions in clinical  
17 protocol.

18 Fourth, include dose ranging as part of your  
19 drug development program, and number 5, as very  
20 important reminders, always validate your PK and  
21 PD assays, and use the to-be-marketed drug product,  
22 or formulation, in your efficacy and safety trials.

1           Next.

2           Challenges in the drug development program  
3 for rare diseases are often the challenges of clin  
4 pharm approaches to dose optimization. A very  
5 small number of patients in rare disease clinical  
6 trials is to a very low computational capacity in  
7 PK/PD analysis. Rare disease often has its  
8 heterogeneity in disease pathogenesis, which may  
9 confirm the exposure-response analysis.

10           Rare disease trials often do not have a  
11 well-defined clinical endpoint that directly  
12 reflects the mechanism of action of a drug. This  
13 together with confounding factors by disease  
14 heterogeneity make these partial response analyses  
15 less effective or informative.

16           Next.

17           There's also good news in dose optimization  
18 for rare diseases. As shown earlier, population PK  
19 and exposure- response analyses are well used in  
20 rare disease NDA/BLA submissions to facilitate dose  
21 optimization. The methodologies are ready to use.  
22 The results from rare disease clinical trials will

1 be more generalizable to the overall patient  
2 population because a high percentage of the patient  
3 population already enrolled in clinical trials.

4 To overcome the issue that clinical  
5 endpoints are not well defined to guide the dose,  
6 PD biomarkers can be used in dose finding and also  
7 as confirmatory evidence of effectiveness. It is  
8 important to involve dose finding at early stage.  
9 Success can be planned, and the dose optimization  
10 can be achieved by a successful clinical trial  
11 design.

12 In the next few slides, we will look at dose  
13 perspectives in detail.

14 Next.

15 The concept of confirmatory evidence has  
16 been introduced in Session 1 of the workshop. The  
17 regulatory framework allows the sponsor to  
18 demonstrate substantial evidence of effectiveness  
19 by conducting one adequate and well-controlled  
20 trial plus confirmatory evidence. Confirmatory  
21 evidence can be from different sources. From a  
22 clinical pharmacology perspective, very often these

1 will be the PD data from clinical trials.

2 Next.

3 Here is a list of a few things you should  
4 keep in mind when you use PD biomarker data as  
5 confirmative evidence. The selected biomarkers  
6 should be relevant to both the mechanism of action  
7 of the drug and the disease pathophysiology.  
8 However, the selected biomarker does not need a  
9 surrogate endpoint that has been validated to  
10 predict clinical efficacy outcomes, and the data is  
11 not necessary to be collected from the pivotal  
12 efficacy and the safety trial.

13 To show an exposure-response relationship of  
14 the PD biomarker data, support is used as  
15 confirmatory evidence. As a very important  
16 reminder, the bioanalytical assays for the PD  
17 biomarker should be validated.

18 Next.

19 In the survey we recently conducted among  
20 the 40 approved NDA and BLA for rare genetic  
21 disease, the majority of the dose-finding studies  
22 used the PD biomarkers or secondary endpoints.

1 Because PD biomarkers are usually more sensitive to  
2 treatment compared to clinical endpoints, the use  
3 of PD biomarkers in dose finding requires a smaller  
4 number of patients and a shorter treatment  
5 duration, which are desirable trial design features  
6 for the rare disease program.

7 Next.

8 Let's look at one example of using a  
9 biomarker as confirmatory evidence and to support a  
10 dosing recommendation. Fosdenopterin was approved  
11 by the DRDMG last year, indicated for patients with  
12 MoCD type A. Patients with MoCD type A have  
13 elevated levels of neurotoxic sulfite SSC. Urinary  
14 SSC decreased following treatment with  
15 fosdenopterin. As shown in the figures below,  
16 higher plasma drug concentrations were associated  
17 with lower urinary SSC or better PD response.

18 The exposure-response relationship supported  
19 the recommended dosing regimen and further  
20 supported the use of the biomarker data as  
21 confirmatory evidence.

22 Next.

1           There are three basic types of clinical  
2 trial designs to explore dose response or exposure  
3 response: crossover, parallel, the titration. The  
4 crossover trial design should use a reversible  
5 response endpoint. Parallel design is suitable for  
6 long-term treatment with chronic response and needs  
7 a relatively larger sample size. The titration  
8 approach is used in many rare disease programs  
9 because this approach could provide both a  
10 population and an individual exposure-response, and  
11 you need a relatively smaller sample size.

12           The big drawback of the titration approach,  
13 however, is the potential carryover PK or PD effect  
14 when the dose is titrated from one level. In this  
15 design, dose selection occurs at the phase 1 and  
16 phase 2 part of the trial. Different dose  
17 selections approaches could be considered such as  
18 parallel group dose ranging, individual dose  
19 titration, and in some cases using the maximum  
20 tolerated dose. The selected dose will then be  
21 evaluated for confirmation of efficacy in the  
22 phase 3 part of the adaptive trial.

1           Next slide.

2           Here are some takeaway messages for part 2  
3 of my presentation. It is important you establish  
4 the comprehensive biomarker assessment plan in  
5 early phases of clinical development and have  
6 bioanalytical assays validated to use. Make sure  
7 you collect PK and PD samples or assessment plan in  
8 early phases of clinical development and have  
9 bioanalytical assays validated for use.

10           Make sure you collect PK and PD samples in  
11 all clinical trials for exposure-response analysis.  
12 When dedicated dose-ranging trials are not feasible  
13 for your program, consider using adaptive designs  
14 that incorporates both dose selection and  
15 confirmation of efficacy of the trial.

16           Next.

17           I want to thank my team members and  
18 colleagues in the Office of Clinical Pharmacology  
19 and all medical officers in DRDMG for their  
20 support. I also want to thank the planning and  
21 organizing committee of this workshop to give me  
22 the opportunity for this presentation. I think

1 knowledge sharing and collaboration are very  
2 important to bring new treatments to patients with  
3 rare diseases.

4 Thank you all for your time. I will be  
5 happy to take any questions in the Q&A session  
6 later.

7 Back to you, Katie.

8 DR. DONOHUE: Thank you, Jack. Your  
9 presentation sparked lots of good questions that  
10 we'll get to in a minute in the Q&A.

11 I do see that a few folks were having  
12 trouble with slides not advancing, so a couple  
13 pointers. One, try using Chrome as your  
14 browser -- we seem to have better luck with that  
15 one -- and then click "refresh." They do appear to  
16 be advancing, but those are two things you might  
17 try if it's not working for you.

18 With that, I'm going to move us into the  
19 next part of our talk. I'm wearing two hats in  
20 this session, a moderator and a panel member, so  
21 now I'm wearing my panel member hat.

22 If we can advance please.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

**Presentation - Katie Donohue**

DR. DONOHUE: Okay. We're going to talk about endpoints. One of the things that I wanted to highlight is that when it comes to clinical trial design and rare diseases, obviously, endpoint selection is one of the toughest and most important decisions that we make. One of the things I wanted to touch on is this tension between what matters to patients and what scientists can measure well.

Often, there are aspects of the disease that contribute greatly to patient suffering and it matters greatly to patients. But for whatever reason, we don't have a good way to measure that scientifically. So a good endpoint is going to be in that middle part of the Venn diagram where it's important to patients and we can measure it well. Most diseases have at least a few symptoms or manifestations that are very important to patients but that we can't measure well, and those don't make good endpoints; they need to have both.

So when we think about what allows a scientist to measure something well, I often think

1 about back in the Middle Ages if you wanted to  
2 measure how high a horse was, you could use hands  
3 and put hands on top; so you can measure by hands.  
4 Well, that's not very precise. Now we might use a  
5 ruler and get a much more precise measurement.

6           So a good endpoint is something that we can  
7 measure precisely, and typically it's also  
8 something that changes fairly quickly or early in  
9 the course of the disease. How quickly that thing  
10 changes in the disease also really matters for a  
11 successful clinical trial, because if you pick  
12 something that changes slowly, you might get a  
13 clinical trial that's years and years long, or it  
14 might never work at all.

15           So finding that sweet spot of something that  
16 changes pretty quickly, that we can measure  
17 precisely, and that matters to patients, that's  
18 what's going to make a good endpoint. So that's  
19 one of first principles in clinical trial design,  
20 and I think one of those things that's really  
21 important to acknowledge.

22           Cognition, for example, is one of those

1 things that we know matters deeply to patients.  
2 It's very clinically relevant, but our tools for  
3 measuring it aren't very precise, and in most  
4 diseases, it doesn't change very quickly. So it  
5 often is not a good endpoint for trials, even when  
6 it's an important part of the disease.

7 Okay. Next slide, please.

8 One of the questions we got in the run-up to  
9 the conference was when can single-arm trials work?  
10 This is a source of great frustration, I think, for  
11 a lot of our stakeholders about when can they work,  
12 and when don't they, and why are we always saying  
13 we need randomized trials?

14 I think the hard part of this is that  
15 single-arm trials work when you are very lucky, so  
16 let's talk about this. There are three main  
17 factors, and one is, do you have an objective  
18 endpoint, something like an x-ray or a blood test,  
19 with lots of evidence, scientific evidence, to show  
20 us that a certain amount of change on the x-ray and  
21 blood test predicts a certain amount of change for  
22 the patient in the clinic? So we know that if we

1 see this amount on the blood test, we're going to  
2 see this much improvement in the clinic.

3 If you have an endpoint like that, a blood  
4 test or an x-ray, that everybody knows predicts how  
5 patients do clinically, well then we can start  
6 thinking about single-arm trials. But without an  
7 endpoint like that, in general, single-arm trials  
8 usually aren't going to work very well. At least  
9 that's one factor you can control, is which  
10 endpoint you're picking.

11 But there are two things that are crucial to  
12 a successful single-arm trial, and this is why I  
13 talk about it a lot, because we can't control them,  
14 and neither can you. The first is whether or not  
15 the natural history of the disease is stable over  
16 time. What do I mean by that?

17 I've included a reference down at the bottom  
18 of the slide. It's a really fascinating paper  
19 where some cardiologists took a look at three rare  
20 cardiac diseases. They looked at these natural  
21 history studies of these diseases, and they noticed  
22 that mortality was improving pretty dramatically in

1 some cases.

2           There was one natural history study where in  
3 the space of just two years, on average, patients  
4 were living 25 years longer. That's extraordinary.  
5 I mean, if we could bottle that, we wouldn't need  
6 any doctors anymore. But the problem is that there  
7 were no new treatments driving that difference in  
8 mortality. What changed was the availability of  
9 the diagnostic testing.

10           So within a very short period of time, it  
11 was much easier to get diagnostic testing done for  
12 this disease, so in a very short period of time all  
13 kinds of new patients were identified with this  
14 disease and data type as the others, but they had a  
15 much milder clinical phenotype, so those patients  
16 were living a lot longer.

17           Even though there was no new treatment, the  
18 natural history of the disease changed right  
19 underneath the feet of these investigators, and the  
20 truth is that that's happening for most of the  
21 genetic diseases that my division works with. None  
22 of us can control that. So you can start a trial,

1 and two years later, the natural history can be  
2 different because the genetic testing availability  
3 is different, so you've got to be able to guard  
4 against that in thinking about a single-arm trial.

5 The third factor is dramatically effective  
6 treatments. We know that with single-arm trials,  
7 there are potential sources of bias that are  
8 concerning, so you want to make sure that you're  
9 seeing a really robust treatment effect if you're  
10 going to rely on a single-arm trial to support a  
11 drug approval.

12 Again, this is not the kind of thing that  
13 you can count on up front; it's really about luck,  
14 and there are some exceptions to that. We know  
15 that often, for example, gene therapies do tend to  
16 have dramatic results, so that may be a scenario  
17 where you'd want to think about doing a single-arm  
18 trial because you're anticipating, and you have  
19 preclinical evidence for, the potential for a  
20 really dramatic effect.

21 But my portfolio has a growing number of  
22 drug development programs that have hit dead ends

1 because they've done a single-arm trial that looks  
2 promising, but it's not robustly persuasive; maybe  
3 because the natural history has aged a bit during  
4 the course of the trial; and maybe because the  
5 treatment effect looks a little bit modest, we just  
6 can't tell if it's the drug that's doing this or if  
7 the natural history has just changed. So often,  
8 there's no good path forward at that point. So I  
9 would say pursue a single-arm trial with caution.

10 Next slide.

11 Which brings me to the key point, which is,  
12 in general, in rare diseases, it's best to  
13 randomize the first patient, in part, because you  
14 can't control two of those key factors. A really  
15 good insurance policy for debriefing drug  
16 development in rare diseases is to randomize  
17 starting from the first patient.

18 Next slide, please.

19 The second core principle here is to be good  
20 stewards of the perception of equipoise. What do I  
21 mean by that? The reason we do clinical trials is  
22 to try and figure out whether or not a drug works

1 and to generate the scientific evidence to show  
2 that a drug is working. When we think a drug  
3 works, that's an hypothesis. That's a guess. We  
4 need to do our science. We need to do our  
5 experiments in the trial to prove that it's  
6 working.

7           So until we've collected enough evidence to  
8 prove that it's working, well, we don't know yet if  
9 it's working. That's what equipoise means; we  
10 don't know yet if it's working. So it's really  
11 important that all of our stakeholders be good  
12 stewards of this perception of equipoise, and that  
13 starts with patients. If you're in a clinical  
14 trial, it's important that patients not be on  
15 social media claiming benefit from treatment if  
16 they don't even know which treatment they're on.  
17 That's important.

18           Patients have a really important role to  
19 play in being good stewards of equipoise because if  
20 you want patients to enroll in a clinical trial,  
21 especially if it's got a placebo arm, then we all  
22 need to stay a little bit skeptical about whether

1 or not something is working.

2 This is also true for academics and really  
3 challenging for academics because, obviously,  
4 publishing positive results is what drives academic  
5 careers. Announcing good news is like the great  
6 privilege of being an academic, and I think the key  
7 here is to be really careful about how and when you  
8 describe those results.

9 So overstating the conclusions, concluding  
10 that a drug works based on an early-phase trial or  
11 a single-arm trial and publishing that before  
12 there's enough scientific evidence to really  
13 demonstrate it can create a huge problem because,  
14 suddenly, nobody wants to enroll in the control  
15 trial that needs to happen in order to get the drug  
16 approved.

17 That's one of my key messages, is that it's  
18 important for all of us to be good stewards of the  
19 public perception of equipoise in order to create  
20 the circumstances that we need to for clinical  
21 trials to succeed.

22 Okay. Next slide, please.

1           If you remember nothing else from my talk, I  
2 think this is probably the slide you want to think  
3 about. It touches back to a lot of what Jack  
4 covered in his presentation, and what it shows you  
5 is a strategy for doing some dose ranging in rare  
6 diseases.

7           We know that in most rare diseases, there  
8 are not enough patients to do a stand-alone,  
9 phase 2 dose-ranging trial and then two separate  
10 stand-alone, phase 3 confirmatory trials. We know  
11 that. This schema, this roadmap that I've got on  
12 this slide, is one option for how to do this in  
13 rare diseases, and it's often called a seamless  
14 design.

15           What it means is you start out by  
16 randomizing the patients. Maybe, let's say, you  
17 have 20 patients. You could randomize five each to  
18 these four arms: high dose, medium dose, low dose,  
19 or the control arm. Then you might follow them for  
20 a short period of time, a couple of weeks, a month  
21 or so, and look at what we call a pharmacodynamic  
22 endpoint. This is usually a blood test, a

1 biomarker, something that you can measure quickly.  
2 We think it probably correlates with the disease.  
3 We don't have to know that it predicts the disease.  
4 It's just something you can measure relatively  
5 quickly and easily that should give us some sense  
6 of how well the drug is working in the patients,  
7 and often we are surprised by the results of this.

8           Commercial sponsors tend to be the ones who  
9 do the best in the lowest dose ranging, and I'm  
10 often surprised by the dose that ends up being the  
11 one that gets carried forward. But the key here in  
12 this seamless design is that you can look at all of  
13 this evidence, you have an unblinded clinical  
14 pharmacologist who is specially kind of isolated  
15 and gets to look at this data, and they can say,  
16 "Oh wow. It turns out we really need the high dose  
17 for this program; we're not seeing much of anything  
18 with the medium and the low dose." But then those  
19 patients who were initially randomized to any one  
20 of the three treatment arms all get moved on to  
21 whatever that optimum dose is.

22           So maybe it's the high dose or maybe it's

1 the low dose. Whatever it is, everybody initially  
2 randomized to treatment moves on to the phase 3  
3 part of the study on that optimum dose. Meanwhile,  
4 the patients who were initially randomized to  
5 control continue on control, and then you follow  
6 these patients out for a longer period of time for  
7 whatever your clinical endpoint is going to be.

8 This does several things. One, it lets you  
9 finish your overall drug development program sooner  
10 because you're essentially starting your phase 3  
11 trial with your phase 2 trial, because the baseline  
12 you're going to use to measure your treatment  
13 effect is going to start at the beginning of that  
14 phase 2. Secondly, you get some dose ranging in,  
15 and as Jack noted, that is one of the biggest risk  
16 factors for a failed drug development program in  
17 rare diseases, is inadequate dose ranging.

18 So anything you can do anything in order to  
19 incorporate at least some dose ranging before you  
20 jump into your pivotal trial I think is a crucial  
21 factor for success.

22 Okay. Next slide.

1           Another way that we can do adaptive trials  
2 is to adapt the trial duration. I'd seen some  
3 questions about how do you design a trial when the  
4 natural history is sparsely described or really  
5 heterogeneous? Well, this is one of the strategies  
6 that we use.

7           We know how quickly patients progress can  
8 sometimes be very variable, and it's not at all  
9 uncommon for that to be a little bit different in a  
10 randomized trial than it was in whatever we were  
11 seeing in the natural history. So planning to  
12 adjust the length of your trial to what I call the  
13 Goldilocks trial, the just-right long enough trial,  
14 is a great strategy for de-risking rare disease  
15 drug development.

16           What this means is that you would plan to  
17 take prespecified interim analyses at designated  
18 intervals. You might say, okay, when two-thirds of  
19 our patients have hit the 6-month mark, we're going  
20 to take a preliminary look, and again, this is  
21 prespecified. You've got dedicated guardrails  
22 around who gets to look at the data and who

1 doesn't, and it's all written out in your protocol  
2 and statistical analysis plan. It's not one senior  
3 investigator unblinds himself every few months and  
4 looks at the data. That's not what we're talking  
5 about. But you've got your data safety monitoring  
6 board and you've got your plan with the appropriate  
7 guardrails to take an interim look at your data and  
8 see.

9           Then if there's a dramatic difference, and  
10 it turns out that the treatment is a whole lot more  
11 effective than anyone could have possibly hoped,  
12 well maybe you're done; you stop the trial  
13 essentially early. If it looks promising but it's  
14 not quite there yet, you continue the trial for  
15 several more months, take another look, and so  
16 forth.

17           So that's another strategy for revisiting  
18 drug development because it prevents you from the  
19 other major risk factor, which is too short of a  
20 trial. We see this all the time. When you have  
21 small sample sizes and a lot of uncertainty around  
22 how quickly these things progress, adapting the

1 duration of your trial gives you another insurance  
2 policy and protects you from stopping too soon for  
3 an otherwise promising therapy.

4 Okay. Next slide, please.

5 Estimands. For my clinical investigators  
6 out there, before your eyes glaze over, stay with  
7 me. This is a statistical concept, but it's  
8 actually really a clinical concept. You should  
9 never, ever, ever let your statistician off the  
10 hook until you've had at least one meeting where  
11 you talk about the definition of the estimand.  
12 What do I mean by this? Really, it's about  
13 intercurrent events. There's more to that  
14 definition, and I've included a footnote, and Yan,  
15 who's going to speak next, can talk more about  
16 this.

17 But the bottom line is that when we're  
18 talking about rare disease clinical trials, data  
19 are almost never missing at random. You know this  
20 if you're an investigator. You know your patients.  
21 Patients are committed to finishing these trials in  
22 rare diseases. They don't just like forget to show

1 up to their final trial visit because they got  
2 busy. These communities are devoted.

3 A statistical plan that just says, "Oh, yes.  
4 We assume that any data missing will be missing at  
5 random," it's not doing anybody any favors. Don't  
6 do that. It's wrong. Think about it. Think about  
7 it ahead of time. For most of these diseases, we  
8 can anticipate that some patients are going to have  
9 clinical events during the course of the trial that  
10 might interfere with our ability to measure and  
11 endpoint.

12 A classic example is the 6-minute walk test.  
13 Well, if you've got a disease where some patients  
14 develop hip dysplasia and might need a hip  
15 replacement over the course of a very long trial,  
16 you've got to think about that if your endpoint is  
17 a 6-minute walk distance. So a patient who drops  
18 out of the trial because they need a hip  
19 replacement, well, that data isn't missing at  
20 random.

21 So you want to think about that. What are  
22 the kinds of clinical events -- and maybe they're

1 infrequent in the disease but they happen, and they  
2 might affect my endpoint. Think about those  
3 things. Think about what things might happen to  
4 these patients clinically that would get in the way  
5 of your ability to measure the endpoint, and figure  
6 out how to incorporate that in your endpoint  
7 definition and into your analysis plan. You can  
8 actually increase your statistical power by  
9 planning for that, and planning for how you're  
10 going to account for that.

11           Similarly, with missing data, in rare  
12 disease trials you can also have data missing just  
13 by chance. Another example might be, again, a  
14 trial with a 6-minute walk test endpoint, a patient  
15 who has shown pretty dramatic improvement in the  
16 6-minute walk distance over the course of the  
17 trial, we don't know if they're on placebo or  
18 treatment, but certainly they're doing a lot  
19 better, and then they have a car accident on the  
20 way to their final study visit.

21           What on earth do we do with that? In a  
22 small trial, that chance event in one patient can

1 really have a big effect on the results, because we  
2 want to think about and protect yourself from some  
3 of those chance events. So talk to your  
4 statistician about should we take an area under the  
5 curve approach. What can we do to protect  
6 ourselves from one or two chance events really  
7 derailing our estimate?

8 In a big trial with a thousand patients, you  
9 kind of don't have to worry about it. You can just  
10 say missing at random, and it'll work out, but  
11 small trials, we really can't count on that. So  
12 investigators definitely owe it to themselves to  
13 sit down with their statisticians and think through  
14 intercurrent events, chance events, and how  
15 you're going to want to plan for that in your  
16 analysis; so that's estimands.

17 Next slide.

18 Regulatory flexibility. My picture is not  
19 coming across. There's supposed to be a little  
20 balance underneath this. This is really about  
21 these broader principles at the FDA; how do we  
22 balance unmet need and scientific integrity when

1 we're applying regulatory flexibility?

2 I think, in general, we often tend to have a  
3 pretty broad agreement with our stakeholders about  
4 the degree of unmet need. We all agree that  
5 diseases that are more severe have more unmet need,  
6 and diseases that have no approved treatments or  
7 few treatments that are mildly effective, these  
8 diseases have unmet need.

9 Our differences of opinion with  
10 stakeholders' are pretty minor. Usually we all  
11 agree that this is a terrible disease and we need  
12 effective treatments. The question then becomes  
13 about when and how to apply regulatory flexibility,  
14 and one of the things that I want to share is that  
15 there are scientific factors driving the different  
16 kinds of regulatory flexibility that we can apply  
17 in a given situation.

18 There are times when there's a vast unmet  
19 need. There might be scientific reasons why we  
20 still need a randomized control trial and we can't  
21 use a single-arm trial. If, for example, the  
22 endpoint we're going to be using is a

1 patient-reported outcome measure, well, those  
2 almost always require randomized- controlled  
3 trials. As a general rule, you can't do a  
4 successful single-arm trial for those kinds of  
5 endpoints. You really need one of those biomarker  
6 endpoints like an x-ray or a blood test for a  
7 single-arm trial to work. So the kind of  
8 regulatory flexibility that you might apply has to  
9 be balanced with scientific integrity. Are the  
10 results of this trial going to make any sense?  
11 That's one factor.

12 Another one is around when can we use  
13 accelerated approval. And again, whether or not  
14 patients with a certain disease have a biomarker  
15 with lots of scientific evidence showing that a  
16 certain amount of change in the biomarker is going  
17 to predict a certain amount of change in the  
18 clinic, if you're lucky enough to have one of those  
19 biomarkers, one of those blood tests or x-rays with  
20 decades of scientific evidence showing that it's  
21 tied to the clinical outcomes, if you have one of  
22 those biomarkers, gosh, that's such a blessing, and

1 it makes it a lot easier to do trial designs in  
2 accelerated approval, but the state of that  
3 scientific evidence has nothing to do with how much  
4 unmet need there is.

5 So those things aren't always as tightly  
6 correlated as we might hope. Really, what  
7 regulatory flexibility comes down to is how much  
8 uncertainty is going to be acceptable for this  
9 disease. One of the main ways that we bring  
10 regulatory flexibility into the rare disease space  
11 is by requiring one well-controlled trial plus  
12 confirmatory evidence. I know that's been the  
13 subject of the entire panel discussions at this  
14 point, but that's a major source of flexibility  
15 that we often bring to rare disease drug  
16 development programs; so think smaller sample  
17 sizes.

18 There are a variety of ways that we can  
19 think about how to bring regulatory flexibility for  
20 a given drug development program, but it's driven  
21 in part by the unmet need and also by the  
22 scientific factors that are specific to that

1 disease. So we have to get a little creative about  
2 what can work here and what is feasible. That's  
3 what I wanted to touch on in terms of regulatory  
4 flexibility.

5 Next slide, please.

6 And that's it.

7 Next up is my colleague, Yan Wang. She's a  
8 statistician, Dr. Yan Wang, and she is one of the  
9 best statisticians in the building when it comes to  
10 rare disease trial design and thinking about how to  
11 maximize the chances of success, even with a very  
12 small sample size.

13 So without further ado, Yan.

14 **Presentation - Yan Wang**

15 DR. Y. WANG: Thank you. Thank you, Katie,  
16 for the kind words and introduction.

17 Good afternoon, everyone. In my talk today,  
18 I will focus on Statistical Considerations in Rare  
19 Disease Clinical Trials.

20 Next slide, and next one.

21 As a quick outline here, I will briefly  
22 discuss some key concepts related to trial design,

1 endpoint, and analysis. For sample size  
2 calculation, I will highlight three approaches that  
3 may be used to increase the chance of detecting a  
4 treatment effect. There is sample size through  
5 estimation, treatment duration adaptation, and  
6 global tests for multiple endpoints. I will  
7 conclude with a brief remark on the importance of  
8 having high-quality trial conduct and data  
9 collection.

10 Next slide.

11 Before I cover the statistical aspect of my  
12 presentation, I would like to first highlight the  
13 major challenges in drug development for rare  
14 disease, especially for inborn errors of  
15 metabolism, IEM. They include small and sometimes  
16 very small patient populations.

17 A rare disease is typically characterized as  
18 having fewer than 200,000 patients, but many IEMs  
19 have less than a few thousand patients. Their  
20 natural history is often poorly understood. It may  
21 affect multiple organs and tissues and have  
22 heterogeneous clinical manifestations. There is

1 often a lack of understanding and consensus on the  
2 efficacy endpoint. It is difficult to design  
3 trials for new drug after the first approval.  
4 Lastly, efficacy outcome measures usually have  
5 large variabilities, as shown in the next slide.

6 Next slide.

7 In this example, the efficacy outcome is the  
8 change from baseline at one year in the distance  
9 walk during a 6-minute walk test. The table shows  
10 the mean and standard deviation estimated using the  
11 data from two cohorts of patients with late-onset  
12 Pompe disease. In both cohorts, the magnitude of  
13 the standard deviation is more than double of the  
14 magnitude of the mean.

15 The figure on the left shows the individual  
16 data having a huge spread, going from a loss of  
17 400 meters to a gain of 200 meters. The figure on  
18 the right shows no clear relationship between the  
19 baseline values and the outcomes at one-year.

20 Next slide, please.

21 The patients in these two cohorts came from  
22 two different trials, but they received the same

1 treatment. The question was, was the observed  
2 difference in the mean outcome due to chance alone  
3 or due to difference in baseline disease severity,  
4 standard of care, or procedures for the 6-minute  
5 walk test? Was the studied treatment effective?  
6 To answer these questions, we need a randomized  
7 placebo-controlled trial.

8 Next slide.

9 In our experience, randomized double-blind  
10 and placebo-controlled trial design is most  
11 commonly used because it is the most reliable  
12 design to determine the effectiveness of a drug for  
13 many rare diseases. In this design, randomization  
14 is used to ensure unbiased assignment of patients  
15 to treatment arms, and the assigned treatments are  
16 blinded to both the patients and the investigators.

17 Minimization and blinding are the most  
18 efficient strategies to minimize potential biases  
19 that may be caused by differences in baseline  
20 prognostic factors: placebo effect, observer  
21 effect, and differences in standard of care.  
22 Placebo control does not imply that the control

1 group is untreated. All patients should receive  
2 standard of care. This will limit ethical  
3 concerns.

4 Next slide.

5 Primary efficacy endpoints, these are the  
6 endpoints that provide key evidence of efficacy for  
7 drug approval. The most straightforward and  
8 readily interpreted primary endpoints are those  
9 that directly measure how a patient feels,  
10 functions, or survives. They can also be validated  
11 surrogate endpoints or validated clinical outcome  
12 assessments. A surrogate endpoint that is  
13 reasonably likely to predict clinical benefit can  
14 be used for accelerated approval.

15 In a rare disease trial, a composite  
16 endpoint is often used to capture the heterogeneity  
17 of the disease. It integrates or combines multiple  
18 measurements into a single variable. For example,  
19 for Fabry disease, a composite endpoint can be the  
20 time to the first occurrence of death, renal,  
21 cardiovascular, or cerebral vascular events.

22 Another example is the total Chorea score

1 for seven different parts of the body in patients  
2 with Huntington's disease. While a single primary  
3 endpoint is typically used, multiple primary  
4 endpoints may be selected to cover the range of  
5 treatment effect for some rare diseases. For  
6 example, the 6-minute walk test and FVC endpoint  
7 can be used as the primary endpoints in trials for  
8 patients with LOPD, MPS-I, and MPS-II.

9 Next slide.

10 Statistical analysis. The protocols  
11 describe clearly the principle features of the  
12 statistical analysis of the primary endpoints. The  
13 null and alternative hypothesis should define and  
14 indicate which parameters are used to quantify the  
15 treatment effect. For continuous outcomes, the  
16 treatment effect may be the difference in means or  
17 medians between the treatment groups. For binary  
18 outcomes, it may be risk difference, relative risk,  
19 or odds ratio. For time-to-event outcomes, it may  
20 be the difference in survival probabilities,  
21 restricted means or medians of survival time.

22 The protocols also include details on the

1 method for estimating and testing the treatment  
2 effect, the methods for controlling type 1 error  
3 rate, and the methods for handling missing data.

4 Next slide.

5 Sample size determination. One key major  
6 challenge question in trial design is how many  
7 patients should be enrolled? In principle, the  
8 sample size should be large enough to provide a  
9 reliable answer to the question. Does the test  
10 drug have a treatment effect? The protocol should  
11 provide detail on the four key elements impacting  
12 sample size calculation.

13 The first is the null hypothesis and the  
14 method for testing this hypothesis. The second is  
15 the significance level or alpha level, also known  
16 as the type 1 error rate. It is the probability of  
17 erroneously rejecting the null hypothesis if the  
18 drug has no effect. The lower the type 1 error  
19 rate, the more likely it is to avoid a false  
20 positive claim, and the more samples needed. While  
21 it is conventionally set at the 0.025 for a  
22 one-sided test or 0.05 for a two-sided test, a

1 larger type 1 error rate may be used for an ultra  
2 rare disease.

3 Next slide.

4 The third impacting sample size calculation  
5 is power, which is the probability of detecting a  
6 true treatment effect when a drug has an effect.  
7 The higher the power, the more likely it is to  
8 detect a treatment effect when it exists and the  
9 more samples needed. Conventionally, power is set  
10 at 80 percent or higher.

11 The last element is the effect size assumed  
12 under alternative hypothesis. It depends on the  
13 assumed treatment effect and the variability of the  
14 efficacy endpoint. For continuous endpoint, the  
15 effect size is the ratio of the treatment effect  
16 and the standard deviation of the efficacy endpoint  
17 as shown in this equation here. The larger the  
18 effect size, the easier, it is to detect an effect  
19 and require fewer samples.

20 Next slide.

21 How to estimate the effect size in sample  
22 size calculation? In principle, effect size should

1 be estimated based on the minimum effect, which has  
2 clinical relevancy, or published data, or the  
3 result of an earlier trial in similar settings.  
4 However, for rare disease without approved  
5 therapies, there are often limited or no data  
6 available to estimate the effect size. In our  
7 experience, rare disease trials are typically sized  
8 based on the assumed large effect size, however,  
9 most drugs have a moderate effect size if they have  
10 an impact.

11 Next slide.

12 This slide shows the effect size estimated  
13 using the data from three randomized  
14 placebo-controlled trials. The trial is for  
15 patients with MPS-1, the second trial for MPS-2,  
16 and the third trial for LOPD. For the 6-minute  
17 walk test endpoint, the effect size ranged from  
18 0.48 to 0.6. For the FVC endpoint, the effect size  
19 ranged from 0.27 to 0.65.

20 Next slide.

21 Here are some examples of sample size and  
22 power calculations for placebo-controlled trial

1 with 1 to 1 randomization ratio. To attain a power  
2 of 80 percent, a sample size of 33 per arm is  
3 needed for effect size 0.7. For effect size 0.6, a  
4 sample size of 45 per arm is needed. For effect  
5 size 0.5, a sample size of 65 per arm is needed.

6 In our experience, most trials for IEM have  
7 a sample size less than 30 per arm, and thus these  
8 trials are underpowered with a power of less than  
9 50 percent to detect a statistically significant  
10 treatment effect if the test drug has a moderate  
11 effect size 0.5 or less. So a question is, how can  
12 we increase the power to detect a treatment effect  
13 in rare disease trials?

14 Next slide.

15 In the next few slides, I will briefly  
16 discuss three approaches that may be used to  
17 increase the power to detect a treatment effect.  
18 They are sample size re-estimation, treatment  
19 duration adaptation, and global tests for multiple  
20 endpoints.

21 Next slide.

22 Sample size re-estimations. This method is

1 used to address the uncertainty on the assumed  
2 effect size in sample size calculations. Based on  
3 interim data, this method investigates the validity  
4 of the assumed effect size and increase the sample  
5 size if the conditional power, the interim data, is  
6 promising.

7           The conditional power is calculated based on  
8 the assumption that the future effect size will be  
9 the same as the one estimated from the interim  
10 data. If the conditional power is promising, for  
11 example, over 50 percent, the sample size can be  
12 increased to attain a higher power; for example,  
13 80 percent. If the conditional power is favorable,  
14 for example above 80 percent, the sample size will  
15 not be increased.

16           Next slide.

17           Here is a hypothetical example of trial  
18 designed with a sample size re-estimation. The  
19 trial starts with a planned sample size of 33 per  
20 arm based on an assumed large effect size 0.7 for  
21 the 6-minute walk endpoint to obtain a power of  
22 80 percent. This trial planned to increase the

1 sample size up to 50 per arm if the predefined  
2 interim analysis is promising.

3 The interim analysis is run after the first  
4 20 patients per arm, and the estimate effect size  
5 is .55, which is 20 percent smaller than the  
6 originally assumed effect size. Because the  
7 treatment difference is smaller, reduced from  
8 35 meter to 30 meter, at the same time, the  
9 standard deviation increased from 15 meter to  
10 55 meters.

11 Based on the internet data, the conditional  
12 power is 65 percent and is promising. The sample  
13 size is increased to 45 per arm, which is a  
14 36 percent increase from the original planned  
15 sample size to attain a conditional power of  
16 80 percent.

17 If this trial is designed with a fixed  
18 sample size strategy based on effects size of 0.55,  
19 a sample size of 54 per arm is needed to obtain a  
20 power of 80 percent. This will represent a  
21 20 percent increase in sample size compared to the  
22 adaptive design with sample size re-estimation.

1           Next slide.

2           Treatment duration adaptation, Dr. Donohue  
3 mentioned earlier. This approach is used to  
4 address the uncertainty on the treatment duration  
5 needed to demonstrate efficacy. Adaptation is  
6 based on the analysis of an efficacy endpoint  
7 assessed at a predefined interim time point for all  
8 patients.

9           If the analysis shows convincing efficacy,  
10 the randomized treatment can be stopped early,  
11 prior to the predefined maximum duration,  $T_{max}$ . If  
12 the analysis does not show convincing efficacy, all  
13 patients remain on their randomized treatment, and  
14 the final analysis is based on the endpoint  
15 assessed at  $T_{max}$ .

16           In other words, this design consists of two  
17 or more efficacy endpoints, one assessed at the  
18 interim time point and one at the maximum time  
19 point,  $T_{max}$ . This trial can stop early prior to  
20  $T_{max}$  if the endpoint at the interim time point  
21 meets the predefined success criteria for efficacy.

22           Next slide.

1           In our experience, many trials fail to  
2 provide conclusive evidence of efficacy likely due  
3 to inadequate treatment duration. As illustrated  
4 in this hypothetical example, a placebo-controlled  
5 trial has a fixed randomized treatment duration of  
6 6 months. At 6 months, all patients have the  
7 option to receive the test drug in open-label. The  
8 efficacy results at 6 months numerically favor the  
9 test drug with a p-value of 0.4 for treatment  
10 comparison.

11           The outcome of the patients in the test drug  
12 will continue to improve after 6 months, but  
13 without a concurrent placebo control after  
14 6 months, this trial fails to provide conclusive  
15 evidence of efficacy.

16           Next slide.

17           If this trial is designed with a treatment  
18 duration adaptation, patients will continue with  
19 their randomized treatments for another 6 months  
20 because the first 6-month results are not  
21 convincing. The trial will have a greater chance  
22 of showing significant results at 12 months if the

1 longer treatment duration produced a larger  
2 treatment effect.

3 Next slide.

4 The third approach to increase power is  
5 using global tests for multiple endpoints. When a  
6 test drug is anticipated to have effect on multiple  
7 endpoints in a small trial, it is desirable to  
8 perform a global test on the multiple endpoints so  
9 that one can make a single probability statement  
10 about the drug effect.

11 In this table, we use a hypothetical trial  
12 to illustrate the concept of global tests. This  
13 trial has two primary endpoints, FVC and 6-minute  
14 walk test. When tested separately, both endpoints  
15 failed to show a treatment effect at the  
16 significance level of 0.05. On the other hand, the  
17 two global tests, O'Brien Rank-Sum and  
18 Test-Statistics-Sum, produced a p-value less than  
19 0.05 indicating that the drug is efficacious.

20 Next slide.

21 Here are some details about these two global  
22 tests. The O'Brien Rank-Sum is based on the sum of

1 the ranks of the data from the multiple endpoints  
2 for each patient. Each combines data at the  
3 patient level and is typically used for continuous  
4 or ordinal endpoints. The Test-Statistics-Sum is  
5 based on the test statistic for treatment  
6 comparison for each endpoint. It combines test  
7 statistics at the endpoint level and is used for  
8 all types of endpoints, including binary endpoints  
9 and time-to-event endpoints.

10 Next slide.

11 As illustrated in our simulation, when a  
12 drug has an effect on multiple endpoints, the  
13 global tests are more powerful compared to the  
14 conventional testing approaches. In this figure,  
15 the blue line is the power curve based on the  
16 Test-Statistics-Sum, the purple line is based on  
17 the O'Brien Rank-Sum, and the black line is the  
18 Hochberg method, which is a conventional method  
19 commonly used for testing multiple endpoints, and  
20 the green line is testing a single endpoint.

21 As shown in this figure, the power of the  
22 global tests are consistently higher than the power

1 based on the conventional testing approach. For  
2 example, for a sample size of 30 per arm, the power  
3 of the Test-Statistics-Sum is 15 percent higher  
4 compared to the Hochberg method. Compared to the  
5 method of testing a single endpoint, the power of  
6 the Test-Statistics-Sum is 25 percent higher.

7 Next slide.

8 High quality of trial conduct and data  
9 collection are essential to the success of a rare  
10 disease trial. To obtain quality trial data, the  
11 trial sponsor should follow the ICH E6 guidance  
12 that covers the principles of good clinical  
13 practice.

14 According to this guidance, trial sponsors  
15 should implement and maintain quality assurance and  
16 quality control systems to ensure that the trials  
17 are conducted and data are collected in compliance  
18 with the protocol, good clinical practice, and the  
19 applicable regulatory requirements.

20 Quality control should be applied to each  
21 stage of data handling to ensure that all data are  
22 reliable and have been processed correctly.

1 Methods and procedures for outcome assessments  
2 should be standardized to reduce noise. This will  
3 help to increase statistical power.

4 For example, in a placebo-controlled trial  
5 with a sample size of 35 per arm, we expect a  
6 treatment difference of 35 meters in the 6-minute  
7 walk test endpoint. If the variability of the  
8 outcome is decreased from 60 meters to 54 meters, a  
9 decrease of 10 percent, the statistical power can  
10 increase from 67 percent to 76 percent, an increase  
11 of 13 percent.

12 To conclude this slide and my presentation  
13 overall, I would like to emphasize that trial  
14 execution is as important as trial planning. Thank  
15 you for your attention.

16 **Session 3 - Questions and Answers**

17 DR. DONOHUE: Thank you again, and thank you  
18 to our audience participants for your wonderful  
19 questions. We have gotten dozens of them, and I'm  
20 going to try to address as many of them as we can  
21 in the 15 minutes or so that we have left.

22 Jack, a couple of really good questions for

1 you on the dose ranging piece. First up, how does  
2 the FDA determine if dedicated dose-finding studies  
3 are required before initiating a pivotal clinical  
4 trial in a rare disease?

5 DR. J. WANG: Yes, that's a good question.  
6 Thank you, Katie.

7 When we are in a dedicated dose-ranging  
8 study, as you have heard from my presentation,  
9 dose-finding and dose-ranging trials are very  
10 important for a rare disease program. From a  
11 regulatory perspective, though, if the sponsor is  
12 asking whether it is required, it is not required  
13 by regulation but it's something really needed for  
14 your program.

15 How we determine when a dedicated  
16 dose-ranging study is needed, it can depend on many  
17 factors. For example, what kind of nonclinical  
18 model and efficacy you have and whether you have  
19 any healthy subjects' biomarker studies, and  
20 whether you have any experience from other relevant  
21 disease populations because there are often many  
22 drugs developed for many indications. We often see

1 some sponsors do a rare disease program for an  
2 approved drug, so the dose-ranging information from  
3 other programs can be helpful.

4 It also can be dependent on the target  
5 patient population. For example, if the sponsor  
6 wants to do a rare disease for a pediatric  
7 indication, we often want to see some proof of  
8 concept and/or dose ranging to make sure there's a  
9 direct prospective benefit.

10 Also, you have heard from the presentation  
11 when it's not feasible to do dedicated dose  
12 ranging, then you can do an adaptive trial  
13 dose-finding study to put dose finding on the  
14 confirmatory efficacy trial.

15 I hope those considerations are helpful for  
16 the question.

17 DR. DONOHUE: Thank you, Jack.

18 Another question is, how does FDA determine  
19 which subpopulation studies are required to support  
20 registration in the treatment of a rare disease? I  
21 might even broaden that and say, can you comment on  
22 when during development do we tend to require the

1 different clinical pharmacology studies, and why?

2 DR. J. WANG: Yes. That's also a good  
3 question. Actually, most of our IND sponsors often  
4 have these kinds of questions in their IND meeting  
5 package. For specific drug development programs,  
6 the sponsor needs to discuss their IND specifically  
7 from studies, what study is needed, and what other  
8 approaches, as I mentioned in the presentation.

9 To give very brief advice, very often dose  
10 separation studies label the issue, and it can be  
11 conducted as postmarketing studies if the sponsor  
12 has their pivotal efficacy and the safety trial  
13 already done, and the data is promising, and they  
14 are eager to submit their NDA/BLA. Yes, in those  
15 situations, organ impairment studies can be done as  
16 postmarketing commitment or requirement.

17 In some situations, we require the sponsor  
18 to conduct, for example, an organ impairment study  
19 before the pivotal trial. For example, if the  
20 sponsor has an indication that it's a liver  
21 disease, we certainly want to see how liver  
22 impairment, hepatic impairment, affects the PK

1 before they conduct the pivotal trial; otherwise,  
2 we are not able to determine a good dose for their  
3 efficacy and safety trial.

4 Yes, thanks for the question. I hope it was  
5 helpful.

6 DR. DONOHUE: Thank you, Jack.

7 We had several questions about can you do a  
8 seamless design with a gene therapy? Essentially,  
9 what do you do with treatments that might have  
10 carryover effects? These are good points. The  
11 seamless design isn't going to work in all  
12 situations. There are going to be some treatments  
13 like gene therapies that are sort of one and done,  
14 where that's not helpful.

15 Can you comment on that aspect of when does  
16 a seamless design work, when doesn't it, and what  
17 might the alternatives be?

18 DR. J. WANG: Yes, that's also a good  
19 question, Katie. As you know, we do not regulate  
20 gene therapy in CDER. I think we can look at some  
21 other applications in CBER to see their general  
22 practice. But in CDER, we do have some similar

1 therapies like antisense and siRNA.

2 For those treatments, very often, we need to  
3 look at experiences from other drugs of the same  
4 class to see other successful stories that we can  
5 use a similar approach. Yes, most of the cases  
6 will rely heavily on the nonclinical data, and also  
7 you need to make sure the trial has a very good  
8 monitor for both the efficacy, biomarker, and  
9 safety.

10 I don't think we have a straight answer for  
11 those unique cases. I think that it will be very  
12 specific for the drug and for the patient  
13 population.

14 DR. DONOHUE: Thank you, Jack.

15 Now I'm going to send a couple questions to  
16 myself. We got some very good questions about  
17 flexibility, regulatory precedent, and second  
18 generation drug development and what constitutes  
19 available therapy. These things are all kind of  
20 tied together.

21 Starting with what constitutes available  
22 therapy, does it have to be FDA approved? The

1 short answer is no. I tend to take a very  
2 pragmatic approach to this. If a therapy is still  
3 widely available that almost all of the patients  
4 are taking it, then it's available therapy, so  
5 you've got to deal with that in designing your  
6 clinical trial.

7           It does present challenges. If it's  
8 unproven and any potential effect is modest to  
9 fair, you might be able to persuade patients not to  
10 take it and just stay on a placebo instead,  
11 particularly for a shorter trial duration, and that  
12 gets into the ethics. If everyone is taking the  
13 drug, and if everyone believes strongly that the  
14 drug is working, even if it's not FDA approved,  
15 you're probably not going to be able to randomize  
16 patients to placebo, so you're going to have to  
17 think about developing a new therapy as an add-on  
18 therapy to that.

19           So you've got to deal with the reality of  
20 the facts on the ground as you're designing your  
21 trial in terms of what is going to be ethical and  
22 what is going to be acceptable to patients. Those

1 are key factors.

2           Some great questions about if you have  
3 regulatory flexibility with the first generation  
4 drug development program, what does that mean for  
5 the second generation drug development program?  
6 I'm so glad that this question was posed because I  
7 think it's really critical, and it goes right back  
8 to when should we accept single-arm trials?

9           What are the hidden costs? If the FDA  
10 approves the first drug for a disease based on a  
11 single-arm trial, it makes follow-on drug  
12 development really challenging. If you look at  
13 drug pipelines for other diseases, most drugs are  
14 mildly or modestly effective. Most patients end up  
15 needing to take several different medications to  
16 manage their disease.

17           The way those medications get developed  
18 often is with what we call noninferiority designs,  
19 where you randomize patients to the first gen  
20 therapy, and then your new drug that you're  
21 developing, and you're trying to show that this new  
22 drug is basically as good as the old one; at least

1       it's no worse.

2               Now, conventionally these often require four  
3       times more patients than that first generation  
4       trial showing superiority to placebo, and it also  
5       means that you had to have a randomized trial with  
6       a placebo arm for that first generation therapy.

7       So in order to do this standard follow-on drug  
8       development paradigm, the first gen trial has to be  
9       randomized so that you can develop what's called a  
10      noninferiority margin in order to show that  
11      follow-on drugs are at least as good as the  
12      first gen therapy.

13              So if that first gen therapy gets approved  
14      based on a single-arm trial, if there's no  
15      randomization, there's no noninferiority margin to  
16      inform follow-on drug development. So it can  
17      really paint patients into a corner where, yes,  
18      they have an approved therapy, but we've now made  
19      it incredibly difficult to develop second and third  
20      generation therapies for those patients.

21              So that's one consideration, and it's an  
22      important one in thinking about a therapeutic

1 pipeline for a given patient population.

2           What can we do about that in terms of the  
3 noninferiority designs when that sample size isn't  
4 going to be feasible? For a good example, actually  
5 I think you could look at the Nexviazyme program.  
6 That was a second-generation drug development  
7 program that relied on a noninferiority margin, and  
8 crucially the first gen trial was randomized, so  
9 that might be a good example. But these are really  
10 thorny challenges, and they're some of the more  
11 interesting scientific questions I deal with.  
12 We're all going to have to put our heads together  
13 to think of some solutions. Those are some  
14 preliminary thoughts on some of those questions  
15 that have come in.

16           I do want to pivot to several questions that  
17 came in from a statistical standpoint to ask Yan  
18 about.

19           Yan, if you would turn your camera on,  
20 please. When selecting component endpoints in site  
21 global testing, how do we make certain that we  
22 don't re-measure a small nonclinically important

1 improvement twice, making the power appear larger?  
2 Is there any strategy to ensure that global testing  
3 covers a broad spectrum of physiological and  
4 clinical changes over the course of the study?

5 As a theoretical example, measuring walking  
6 distance and leg cycling ability to likely assess  
7 similar things, but maybe a combination of walking  
8 distance and seated arm peddling can capture some  
9 seated fitness improvements as well.

10 I think, essentially, this question is  
11 getting at, how do you pick the components of your  
12 endpoint? There are other questions about how do  
13 you make sure that you're still controlling for  
14 type 1 error when you have one of these global  
15 endpoints? Then what are the implications for that  
16 in terms of labeling?

17 Those are the three main questions that are  
18 coming in about your multicomponent or global  
19 hypothesis test.

20 DR. Y. WANG: Thank you, Katie, for the  
21 question. Regarding the first question, I think  
22 the question asks which components should be

1 included in the global test or which endpoints,  
2 including the multiple endpoints?

3 I think this is more a clinical question  
4 because it depends on the drug mechanism, mechanism  
5 of the drug and the disease indication. We know  
6 for LOPD, often you can use both endpoints FVC and  
7 6-minute walk test as the primary endpoint because  
8 we believe that the drug likely will work on both  
9 endpoints.

10 It also depends on the property of your  
11 drug. For other rare diseases, if we don't know  
12 the drug well enough, we are not sure which  
13 component will be helpful to include in a global  
14 test so we will have more power. I statistically  
15 cannot address that question.

16 The second question, can you repeat again  
17 the second question? I know the third one is how  
18 you're labeling if the drug has approval. That's  
19 the third question. The practice is more to follow  
20 the composite endpoints. Say for a composite  
21 endpoint, you have the time to event like death,  
22 randomized as composite endpoint. If the trial

1 makes it, you summarize the results, what's the  
2 probability of the clinical event by treatment  
3 group and the treatment difference? Yet, at the  
4 same time, you also look at each individual  
5 component.

6 For the global test, I think we follow the  
7 same principle. In the table in one of the slides  
8 I showed, you will present the summary statistics  
9 for each component endpoint. In terms of the  
10 global test, once the drug is approved, we don't  
11 need to provide details about the p-value of the  
12 global test in the labeling. That's not necessary.  
13 Once we make the decision that the drug works, then  
14 we just focus on describing the effect size for all  
15 the endpoints in the labeling.

16 I think the second question is about  
17 controlling type 1 error rate. That's the same  
18 question, applying to composite endpoint. A trial  
19 can make it based on composite endpoint and based  
20 on global test, but it's not guaranteed which of  
21 the component endpoints will show a statistical  
22 difference, but that's okay, as long as they don't

1 show harm on one of the component endpoints.

2           There's no type 1 error issue here because  
3 the global test, it tests a single hypothesis, the  
4 null hypothesis that the drug doesn't work for any  
5 endpoint. The alternative hypothesis, the drug at  
6 least works for one endpoint, so there's no  
7 multiplicity issue here when we use the global  
8 test.

9           DR. DONOHUE: Thank you again.

10           One last question here about, can you use  
11 the global hypothesis test for these multicomponent  
12 endpoints to address heterogeneity and power  
13 optimization?

14           DR. Y. WANG: Yes. The answer is yes.  
15 Actually, I think the global test can be very  
16 flexible. The example we use is often like, say,  
17 the trial has two primary endpoints, which means  
18 every patient has two primary endpoints. The  
19 global test can be applied in this situation to  
20 account for the heterogeneity of the disease.

21           A trial can include two types of patients.  
22 One patient, say, they walk well, so 6 minutes is

1 not a good endpoint for this subset of patients,  
2 and they only have problems, say FVC. You can have  
3 a subgroup of patients that only have one endpoint,  
4 the FVC endpoint as the primary endpoint. You can  
5 have other patients, and their lung function is ok  
6 and works normally, but there 6-minute walk test is  
7 not so good.

8 So you can have two different subpatient  
9 populations enter into the same study, but with  
10 different endpoints, and the global test can  
11 combine the evidence for these two patient  
12 populations with two different endpoints together  
13 to make a single statistical statement.

14 DR. DONOHUE: Thank you, Yan, and thanks  
15 also to Jack. Thanks to all of my panelists, and  
16 also all of the participants for asking such great  
17 questions.

18 I think the key takeaways here are there are  
19 a handful of tools in the box that we use for  
20 dealing with rare disease drug development over and  
21 over and over again. One of the first is seamless  
22 design to make sure that we've got dose ranging so

1 you can use all the same patients in your phase 2,  
2 and then move them right into phase 3 and not have  
3 to have separate pools of patients.

4 So those seamless design strategies are  
5 really important because as Jack noted, dose  
6 ranging is really important. Inadequate dose  
7 ranging is often one of the major contributors to  
8 failure in rare disease drug development, so  
9 anything that makes that more feasible is going to  
10 help.

11 A second strategy is the adaptive duration  
12 of the trial by extending the length of the trial  
13 as needed. This helps us deal with a lot of the  
14 uncertainty around the natural history and how  
15 quickly patients are going to progress.

16 Then as Yan noted, these multicomponent  
17 endpoints with a global hypothesis test across all  
18 the pieces is another core strategy for improving  
19 power, for addressing heterogeneity, and frankly,  
20 for also increasing sample size. If you can  
21 broaden your enrollment criteria because you can  
22 measure benefit across a range of endpoints and

1 enroll all of the available patients at all  
2 available ages, you can increase your power that  
3 way, too.

4 Those are three of our best strategies for  
5 dealing with some of the common challenges in rare  
6 disease drug development. I thank everyone for  
7 your questions, and thank you for having us. Take  
8 care.

9 (Whereupon, at 2:25 p.m., a recess was  
10 taken.)

11 **Session 4**

12 **Tiina Urv - Moderator**

13 DR. URV: Hi. Welcome back. My name is  
14 Tiina Irv, and I'm a program director from the  
15 Division of Rare Disease Research Innovation,  
16 formerly known as Office of Rare Disease Research,  
17 at the National Center for Advancing Translational  
18 Sciences at the NIH.

19 This session that will be next will  
20 illustrate the challenges of designing and  
21 conducting rare disease clinical trials that are  
22 fit for purpose from a regulatory perspective. The

1 participants in this session are all PIs from the  
2 Rare Disease Clinical Research Network or the  
3 RDCRN. Our first speaker will be Andrea Gropman.  
4 She is a division chief of Neurodevelopment,  
5 Pediatrics and Neurogenetics at Children's National  
6 Hospital, and she's also one of the principal  
7 investigators of the Urea Cycle Disorders  
8 Consortium.

9 Andrea?

10 **Presentation - Andrea Gropman**

11 DR. GROPMAN: Thank you, Tiina, and thank  
12 you, everyone, for giving me the opportunity to  
13 present. I'm going to be wearing two hats and talk  
14 about two distinct challenges in bringing and  
15 advancing science from the bedside or the bench to  
16 clinical trials for rare disorders.

17 Next slide, please.

18 These are my disclosures in terms of my  
19 funding and my work as medical and scientific  
20 advisory board member.

21 Next slide.

22 I'll be talking about drug development in

1 two classes of disease. One is the urea cycle  
2 disorders, shown here on the left, and I'm one of  
3 the co-PIs of the Urea Cycle Disease Consortium,  
4 and the other is for two rare mitochondrial  
5 disorders, LHON, Leber's Hereditary Optic  
6 Neuropathy-Plus, and MELAS, which is a  
7 mitochondrial encephalopathy, lactic acidosis, and  
8 stroke-like episode.

9 Next slide.

10 I'll talk about the history of drug  
11 development and the Urea Cycle Disorders  
12 Consortium, or UCDC, which I'll use as the  
13 abbreviation; clinical trial readiness from UCDC in  
14 terms of biomarker discovery projects; preclinical  
15 studies to inform trial design and how the UCDC  
16 expertise helped in development of new therapies  
17 for these rare diseases; and how we facilitated a  
18 phase 4 study for approval treatment for an even  
19 rarer urea cycle disorder.

20 Next slide.

21 Urea cycle disorder is shown here, and the  
22 role of the urea cycle is the disposal of waste

1 nitrogen via the conversion of ammonia to urea  
2 through a series of enzymatic reactions. A  
3 deficiency of an enzyme or a transporter in this  
4 pathway, which is responsible for converting  
5 ammonia to urea, can result in the accumulation of  
6 toxic levels of ammonia, first in the blood, and  
7 then, unfortunately, ultimately in the brain, and  
8 the resulting encephalopathy from this  
9 hyperammonemia can cause death on the one extreme,  
10 or more often neurologic impairment.

11 The long-term management of urea cycle  
12 disorders is not very satisfying. It requires a  
13 low protein diet with supplementation of essential  
14 amino acids and other nutrients that are lacking  
15 from that diet; ammonia lowering agents; and an  
16 emergency protocol for use because despite the diet  
17 and the other medications, these patients are still  
18 at risk, or many of them are still at risk, for  
19 hyperammonemic episodes.

20 Next slide.

21 What are the current treatment options and  
22 what is the treatment landscape for urea cycle

1 disorders beyond the diet? We have at our disposal  
2 oral sodium benzoate, which conjugates with glycine  
3 and causes excretion of a non-toxic hippuric acid  
4 in the urine; sodium phenylbutyrate, sodium  
5 phenylacetate, which conjugates with glutamine and  
6 allows for excretion of a non-toxic phenyl, acetyl  
7 glutamine in the urine; and more recently, glycerol  
8 phenylbutyrate, which is a pre-pro drug and allows  
9 for conjugation with glutamine and excretion as a  
10 non-toxic phenylacetylglutamine in the urine, has a  
11 slower release and uptake than sodium  
12 phenylbutyrate, sodium phenylacetate, and we have  
13 arginine for infusion.

14 Next slide.

15 In addition, there's a very rare urea cycle  
16 disorder, NAGs, or N-acetylglutamate synthetase  
17 deficiency, which is responsive to a medication  
18 called N-carbamyl-glutamate.

19 Next slide.

20 Over the course of the last 16 funded years  
21 in the RDCRN, we've conducted a number of studies  
22 and protocols. The most expansive is our

1 longitudinal study of urea cycle disorders, from  
2 which we were able to leverage data for subsequent  
3 clinical trials. For example, we've had randomized  
4 clinical trials of low versus high dose arginine in  
5 arginosuccinate lyase deficiency, and a number of  
6 biomarker studies involving the brain, and  
7 ultimately the liver to poise us for participating  
8 in clinical trials, as shown here. We've also  
9 worked with several pharmaceutical companies for  
10 either clinical trials, randomized clinical trials,  
11 or a post-surveillance protocol.

12 Next slide.

13 These are three of the trials that we've  
14 been involved with. One was with Orphan Europe at  
15 the time, now Recordati, and this was for a  
16 compound, Carbaglu, or N-carbamoylglutamate, for  
17 that NAGs deficiency.

18 The product was a synthetic form of the N-  
19 acetylglutamate. Basically, the product was  
20 approved in 2010, and we've been involved in  
21 conducting the postmarketing surveillance under an  
22 RDCRN protocol. We were able to show that the

1 Carbaglu was effective in a subset of patients,  
2 with one of the proximal disorders, carbamoyl  
3 phosphate synthetase 1 deficiency, but not  
4 ornithine transcarbamylase deficiency.

5           Then this work was extended. We were able  
6 to leverage this and to study this through an R01.  
7 That was Dr. Mendel Tuchman, who was able to  
8 perform a multisite team of investigators to look  
9 at this further, and also to perform  
10 post-surveillance marketing. So the involvement of  
11 Orphan Europe was supplying the drug and placebo,  
12 but the trial was supported by both NIH as well as  
13 philanthropic funds.

14           The next major clinical trial that the UCDC  
15 was involved with was the FDA approval of Ravicti,  
16 which is glycerol phenylbutyrate. This is the  
17 nitrogen binding agent, and we were able to provide  
18 de-identified aggregate data from the longitudinal  
19 study to inform the clinical trials and basically  
20 introduce the UCDC investigators, who would serve  
21 as consultants and site PIs.

22           Then more recently, we've been involved in

1 an enzyme replacement therapy for arginine  
2 deficiency, again providing de-identified data on  
3 arginase deficiency patients who were enrolled in  
4 the longitudinal study to inform the clinical trial  
5 design, and the company now has an active phase 1/2  
6 clinical trial for this arginase enzyme replacement  
7 therapy.

8 Next slide.

9 With regard to the study for the glycerol  
10 phenylbutyrate for urea cycle disorders, this was  
11 the study design. We had a phase 2 and a phase 3,  
12 originally starting with adults, then bringing the  
13 age subsequently down. Because there are ethical  
14 issues in treatment of patients with rare  
15 disorders, especially if they have a drug that  
16 works, really having it as an add-on initially is  
17 the way to go. They also do this with epilepsy  
18 trials as well, as you can't just take someone off  
19 a medication that's been tried and true -- and  
20 maybe not totally effective but at least providing  
21 some efficacy -- and put them on an unknown.

22 We looked at both the short- and long-term

1 effects of ammonia regulations. Initially, we had  
2 the patients first on their stable dose, and then  
3 add on to the new agent, switching to equivalent  
4 dose. This was over a 12-month period, a long-term  
5 treatment period. We had 100 individuals,  
6 51 adults and 49 pediatrics, across the multiple  
7 sites of our urea cycle consortium. They had  
8 monthly visits looking at ammonia and plasma amino  
9 acids.

10 Next slide, please.

11 We evaluated the 24-hour ammonia regulation  
12 as well as long term, and this was published in  
13 2013.

14 Next slide.

15 Plasma ammonia has been a standard and  
16 acceptable surrogate endpoint for these clinical  
17 trials, and a lot of this knowledge came from  
18 clinical observations, so looking at what type of  
19 biochemical abnormalities presented in patients in  
20 the throes of a hyperammonemic crisis; so again,  
21 taking information from the bedside to clinical  
22 trials using the data from enrolled subjects -- .

1           Next slide.

2           -- and also using the longitudinal data to  
3 power clinical trials in the UCDC. Many of these  
4 slides are from Sandesh Nagamani, who has  
5 graciously allowed me to present them today, and  
6 this is actually a study with Brendan Lee, who's  
7 our next speaker and used to be in our consortium.  
8 So really, evaluating sample size for primary  
9 neurocognitive outcome endpoints in this condition  
10 were powered using data from neuropsychological  
11 assessments in the longitudinal study.

12           Next slide.

13           Our involvement in phase 4 studies in this  
14 very rare disorder, NAGs deficiency, performing the  
15 Carbaglu surveillance as part of a UCDC or RDCRN  
16 protocol, this was the only surveillance protocol  
17 for this particular drug that was approved in 2010,  
18 and this effort was led by Nick Ah Mew, who's one  
19 of our site PIs.

20           Next slide.

21           To date, many of our studies have focused on  
22 biomarker identification, so long standing with

1 neuroimaging, and now more recently with liver;  
2 comparative efficacy studies that we've conducted  
3 looking at standard of care versus liver  
4 transplant; randomized-controlled studies of  
5 ammonia lowering agents; and evaluation of novel  
6 therapies.

7 Next slide.

8 I wanted to contrast that with some more  
9 recent experience that I'm embarking on with  
10 colleagues at GW. We had the benefit of the urea  
11 cycle drug development studies to work with  
12 pharmaceutical companies, but now we're back to the  
13 academic center.

14 Two disorders in particular we're interested  
15 in are this Leber's-Plus and MELAS, which are both  
16 disorders of oxidative phosphorylation in the  
17 mitochondria at complex 1. Both of them cause  
18 devastating disease for which there is not very  
19 effective therapies out there.

20 Next slide.

21 MELAS and Leber's-Plus are progressive  
22 neurodegenerative disorders. They do share some

1 similar features but also have very different  
2 clinical manifestations. On top of that, even  
3 within the disease and within the same family,  
4 there may be a broad clinical spectrum of  
5 presentation in terms of what the symptoms are and  
6 the ages of onset and the severity.

7 Now, they're both maternally inherited, and  
8 pathogenic variants in these two genes affect  
9 oxidative phosphorylation. In MELAS, the variants  
10 tend to be heteroplasmic, whereas LHON, they may be  
11 near homoplasmic levels.

12 Next slide.

13 I've had the opportunity and quite gracious  
14 to work with this very talented group of  
15 researchers who have developed what they call the,  
16 Mito-EpiGen Program. They've been doing  
17 preclinical work initially with MELAS in  
18 fibroblasts to gain insights into the biomedical  
19 and pathogenic signature.

20 Dr. Chiaramello's lab has designed a  
21 strategy for using multi-omics in this particular  
22 disorder, for which there isn't really an effective

1 animal model, to look at preclinical effects of  
2 drugs.

3 Next slide.

4 Using the preclinical work in fibroblasts,  
5 we can look at what we already know about the  
6 biochemistry of these patients, is that they have  
7 dysregulation of complex 1. They have alterations  
8 in many bioenergetic pathways such as glycolysis,  
9 oxidative phosphorylation, TCA, and fatty acid  
10 oxidation as well.

11 This could possibly be a model for precision  
12 medicine and testing various compounds in patients.  
13 Also, we know that there's a downregulation of the  
14 arginine biosynthesis pathway, which may be  
15 important in that there was uncontrolled, basically  
16 a clinical observation that arginine may be helpful  
17 in patients with MELAS in particular, and this has  
18 not really gone through a clinical trial as yet.

19 Next slide.

20 But the challenges of clinical trials in  
21 academia are many, so funding; responding to  
22 multiple review cycles, namely IRB; establishing

1 clinical trials and material transfer agreements  
2 with sponsors and medical centers; finding the  
3 resources within your institution; patient  
4 recruitment, protected time, and the large amount  
5 of associated paperwork.

6 Next slide.

7 About a year ago, NCATS came out with an RFA  
8 describing the opportunity for a basket clinical  
9 trial to evaluate drugs targeting shared molecular  
10 etiologies in multiple rare disorders. It's a  
11 two-part grant with UG3 and a UH3, comprised of an  
12 exploratory and a developmental phase award, which  
13 is a cooperative agreement like all U awards are.

14 Next slide.

15 The rationale was that, currently, companies  
16 and investigators are looking at drugs targeting  
17 shared molecular ideologies, but the standard  
18 approach in clinical trials has been to focus on  
19 one disease at a time, and usually the disease  
20 that's picked, even within rare disorders, is one  
21 that is less rare than the others.

22 But as Dr. Donohue said, you really need to

1 balance the rareness against the scientific  
2 rationale. So this approach of picking the more  
3 common of the rare results in clinical trials in  
4 which only the most common rare diseases exclude  
5 patients with the least common diseases, even  
6 though the scientific rationale may be stronger in  
7 that disease that is of lower prevalence.

8 Next slide.

9 Taken from the wording of this RFA, this was  
10 proposed as a potential solution to adopt a basket  
11 trial approach that's been developed for tissue  
12 agnostic oncology drugs for clinical trials of  
13 drugs that target molecular defects common to  
14 anatomically different cancers, and to apply this  
15 to rare disease.

16 Next slide.

17 There are variations on this theme. The  
18 basket trial tests one or more drugs on one or more  
19 diseases. There's also an umbrella trial, which is  
20 slightly different and tests one drug on different  
21 mutations but in the same disease. Then of course,  
22 you've all heard about N of 1 trials, where you

1 basically have a drug developed for one particular  
2 patient who has a particular DNA variant. These  
3 can involve multi-omics, data mining, and  
4 ultimately may provide information about clinical  
5 decision making.

6 Next slide.

7 The UG3 phase basically is the  
8 translational, and then if that is successful,  
9 there's transition to the UH3 phase. The UG3 phase  
10 will depend upon the maturity of the project at  
11 entry, and then those projects that have met  
12 specific milestones can then go on and be eligible  
13 for transition to the UH3 phase, which will support  
14 a small clinical trial involving at least two  
15 different diseases. This is a cooperative  
16 agreement, so along the way, NIH program staff are  
17 involved in the planning and execution of the  
18 projects.

19 Next slide.

20 Conducting clinical trials in academia,  
21 especially now with a basket trial approach for  
22 rare disease, which has never been tried, is

1 certainly going to be complex in the design and  
2 patient access. How do we access rare disease  
3 patients? Well, luckily there's RDCRN for  
4 mitochondrial disorders and patient advocacy  
5 groups.

6 Other things that need to be considered are  
7 what would be the cost of the budget to conduct  
8 this; what are the roles of the staff and  
9 responsibilities; and how do we establish  
10 governance and oversight?

11 Next slide.

12 For those of us who have not done this  
13 outside of academia, navigating the FDA website can  
14 be difficult, especially since a lot of our  
15 hospitals use encrypted email, and just looking  
16 around at the site can be arduous, so I'm looking  
17 forward to the talk tomorrow about how to do that.

18 Next slide.

19 We're going to focus on two ultra-rare  
20 diseases, MELAS and LHON-Plus. These are studied  
21 by the RDCRN, the NAMDC, which is North American  
22 Mitochondrial Disease Consortium; again, the

1 challenge to recruit these patients, however,  
2 understanding that these patients don't have access  
3 to effective treatments; repurposing a drug that's  
4 been previously used in solid organ tumors and  
5 being able to reactivate studies into new patient  
6 populations for new indications. These are the  
7 challenges and the goals of this project, and  
8 basically, the patients share a common etiology  
9 with complex 1 deficiency and have a chronic energy  
10 or ATP deficit.

11 Next slide.

12 Some of the issues that may come up when one  
13 tries to embark on a clinical trial are what's our  
14 preclinical data? Well, we don't have an animal  
15 model, but we have to think of new ways around us  
16 because not every rare disease has an adequate  
17 animal model. But we have a fibroblast, so will  
18 studies establishing the preclinical efficacy of  
19 different pharmacologic compounds be enough for  
20 this proof of concepts in these two new  
21 populations?

22 Next slide.

1           But there has been published literature  
2 using the compound that we're interested in, in  
3 embryonic cortical neurons, hippocampal neurons,  
4 and other neuronal cell lines.

5           Next slide.

6           So here we go, embarking on uncharted  
7 territory; so really need the advice and guidance  
8 of the FDA going forward and need to think about  
9 new ways to approach the study design, and the  
10 retention of patients, and also measuring the  
11 efficacy of these drugs, as have been previously  
12 discussed.

13           I wanted to acknowledge all the clinical and  
14 research partners. Dr. Nagamani is one of the  
15 co-PIs of the UCDC; along with Cindy LeMons, who's  
16 the executive director of the National Urea Cycle  
17 Disease Foundation, which is the patient advocacy  
18 group; all the UCDC PIs, patients, and the  
19 families; and Dr. Chiaramello and her lab over at  
20 GW, and I thank you for your attention.

21           DR. URV: Thank you very much, Dr. Gropman.  
22 That was really wonderful.



1           The Brittle Bone Disorders Consortium covers  
2 a host of diseases, which originally were termed  
3 "osteogenesis imperfecta." This is one of the  
4 three heritable disorders of tissue that Victor  
5 McKusick described in the '50s in his treatise. As  
6 such, I think it is characterized by the variable  
7 expressivity that we see in many of the genetic  
8 disorders affecting connective tissue.

9           As some of you may know, the main features  
10 have been low bone mass and brittleness of bones,  
11 something we focus on clinically and in trials, and  
12 their associated deformities and, hence, fractures.  
13 But it is important to keep in mind -- and this is  
14 relevant in considering composite endpoints -- that  
15 this is a connective tissue disorder with  
16 extraskeletal manifestations, including in  
17 dentition; in hearing; in lungs, and ligaments, and  
18 tendons, for example.

19           As you can see in the x-rays, though, there  
20 is a real variation in terms of severity, and  
21 heterogeneity of clinical presentation is the  
22 hallmark with features of the condition, which is

1 incompatible with life, all the way to some minor  
2 risk of fracture that one may not even know they  
3 have this condition.

4 Next slide, please.

5 I'm going to sort of start with the end in  
6 terms of what are the lessons that we've learned in  
7 terms of translation of rare bone diseases,  
8 especially the Brittle Bone Disorders Consortium,  
9 have taught us.

10 The first is that, actually, the structural  
11 functions of the mouse and human skeleton has been  
12 remarkably conserved through evolution, and this  
13 has supported strong clinical translation, not only  
14 in rare disease, but in common diseases, as you'll  
15 see. And this has impacted in terms of how our  
16 natural histories have really progressed.

17 Now, the clinical endpoints, however, in  
18 these rare disorders have suffered from enormous  
19 clinical heterogeneity, and this is first initially  
20 reflected in locus and allelic heterogeneity, so  
21 now many genes that contribute to the phenotype, as  
22 well as many mutations in genes that contribute to

1 heterogeneity; but also with now what is functional  
2 standard of care, where drug treatments have  
3 actually impacted the natural history, and this was  
4 also alluded to in how it impacts the development  
5 of actual approved drugs.

6           There's no question that a theme throughout  
7 has been the early partnership and collaboration  
8 between NIH, industry, patient advocacy groups, and  
9 academic researchers are key to identifying unmet  
10 and sometimes unknown needs; accelerating research;  
11 performing the natural history studies which we  
12 hope to power the endpoints that are coming for  
13 FDA-approved studies; and accelerating early-phase  
14 trials, as you can see from brittle bones  
15 consortia; also leveraging the human experience,  
16 both in terms of dosing, dose response, and  
17 toxicity for potentially applications, or newer  
18 applications, to drugs that have been studied in  
19 the context of repurposing, even if it's  
20 repurposing non-previously approved drugs.

21           Next slide, please.

22           The statement that there's been great

1 conservation -- and the mouse has been a superb  
2 translational model for structural targets of  
3 treatment -- I think it's evidenced by this; that  
4 there have been really superb and many successful  
5 drugs that have been approved for the treatment of  
6 a common disease, osteoporosis, in terms of how it  
7 impacts bone formation by the osteoblasts, shown on  
8 the left, and bone resorption, by the osteoclasts,  
9 shown on the right, and really changing this  
10 balance to improve and increase bone content.

11 I think the best example of these have been  
12 the bisphosphonates, shown on the right, drugs that  
13 inhibit the function of osteoclasts, moving forward  
14 to drugs that, in fact, target signaling; drugs  
15 that block rank-ligand signaling to the  
16 osteoclasts, for example, denosumab, an antibody  
17 that is very effective on the anti-resorptive  
18 front; and similarly on the anabolic front, forms  
19 of parathyroid hormones, which in pulsatile fashion  
20 stimulates bone formation; and most recently  
21 powered by rare disease genetics, mutations of  
22 sclerostin or the development of antibodies that

1 block sclerostin to increase bone mass by blocking  
2 Wnt signaling.

3 Now, this slide is important because the  
4 experience of pamidronate and the safety margin of  
5 this drug led its use to be developed in the late  
6 '90s by Francesco, Herrera, and others, and this  
7 has now become a de facto standard of care,  
8 especially in pediatric OI, and has impacted the  
9 natural history of this disease, and in fact, how  
10 we even consider performing controlled clinical  
11 trials for approval.

12 Next slide, please.

13 This slide demonstrates one of the  
14 challenges I pointed to. There are now many, many  
15 types of, quote, "OI," which contribute to the  
16 spectrum of the Brittle Bone Disorders Consortium,  
17 and while the majority of the genes include genes  
18 that involve structure and post-translational  
19 modification of collagen, there is enormous  
20 heterogeneity with its underlying mechanistic  
21 heterogeneity and, hence, really are beginning to  
22 lead us to focus on genotype specific groups when

1 we think about targeting mechanistic-based  
2 therapies.

3 Next slide, please.

4 Bisphosphonate is, in fact, an accepted  
5 de facto standard of care, but it is not FDA  
6 approved, as is often the case in rare diseases.  
7 Its use has been studied in multiple trials, but  
8 this is an excellent review by Bob Steiner and  
9 others in terms of bisphosphonate therapy in OI.

10 As you can see, it is a standard of care,  
11 especially in children with severe OI. There have  
12 been multiple trials that have been performed, and  
13 I take quotes from the conclusions. "It is unclear  
14 whether oral or intravenous bisphosphonate  
15 treatment consistently decreases fractures, though  
16 multiple studies report this independently, and no  
17 studies report an increased fracture rate with  
18 treatment." So it doesn't certainly harm patients  
19 in terms of fracture rate, but clearly it's been  
20 variable whether a clinically important endpoint,  
21 i.e., fracture reduction has been met, and there  
22 are many reasons for this.

1           At the end, "The studies included do not  
2 show bisphosphonates conclusively improve clinical  
3 status in people with OI." That's a pretty  
4 daunting statement when you think about the fact  
5 that this is de facto standard of care; even though  
6 I think clinicians and patients would report the  
7 anecdotally enormous benefit.

8           I think this is, again, reflective of the  
9 enormous heterogeneity in this population, where  
10 you can study a patient with OI, and they may  
11 suffer hundreds of fractures, but at the same time,  
12 another patient, depending upon where they are in  
13 their life -- so it's not only the genotype, but  
14 also the impact of environment, the life course,  
15 and their age where they may have had only one or  
16 two fractures in the past recent years. You can  
17 imagine how the distribution of such events  
18 clinically can totally confound powering a study  
19 when you're looking at fracture endpoints.

20           Next slide, please.

21           It's because of this that the Brittle Bone  
22 Disorders Consortium was formed, and it is at now

1 over 14 clinical sites across North America to try  
2 to begin to document the natural history of this,  
3 and now, really, the natural history of this in the  
4 age of bisphosphonate use and how that can inform  
5 many of the things that we've been talking about  
6 today.

7 Next slide, please.

8 What have we achieved to date and as a  
9 take-home message? We have the largest cohort of  
10 patients with osteogenesis imperfecta, following  
11 now for the past eight years. There are close to a  
12 thousand such individuals. In the studies that  
13 we've performed, we've actually identified clinical  
14 signals not previously appreciated or studied; for  
15 example, the risk of postpartum hemorrhage, impact  
16 of pain, anxiety, and other neuropsychological  
17 endpoints.

18 Importantly, and not surprisingly, we were  
19 able to quantify the effect sizes of different  
20 subtypes of OI, and this really helps to begin to  
21 address the variable expressivity as it confounds  
22 sample sizes in considering powering trials. This

1 includes multiple measures such as growth, which is  
2 a major aspect of OI, especially the severe type;  
3 pulmonary function, a really confounding measure;  
4 mobility, including measures which have been  
5 accepted by the FDA like the 6-minute walk test;  
6 hearing loss; and increasingly important  
7 patient-reported outcomes that impact quality of  
8 life.

9           What is clear from these studies is that  
10 these are truly, as Victor McKusick himself  
11 described years ago, broad connective tissues that  
12 target elements beyond bone, in which I think  
13 inform us to begin to think about composite  
14 endpoints to increase the power of potential  
15 studies.

16           The consortium and the data generated, as  
17 actually very nicely demonstrated by Andrea in the  
18 previous talk, is a basis for academic, industry,  
19 and advocacy partners to come together to power and  
20 design clinical trials. There have been some good  
21 examples of this. Actually, a study performed and  
22 done by investigators within the BBDC on an

1 anti-TGF beta strategy has now been moved forward  
2 for further development by Sanofi.

3 Then again, as a model for engaging academic  
4 investigators and multiple centers, industry  
5 sponsored studies focused on the agonist,  
6 sestrusumab, being referred by another company  
7 partnership, Mereo and Ultragenix.

8 Next slide, please.

9 This is a study which I think illustrates  
10 both the power of the preclinical model in terms of  
11 translating not just efficacy potentially, but also  
12 dose finding in the preclinical model to the  
13 clinical scenario. This I think spans a spectrum  
14 in rare disease, and while they're completely  
15 absent preclinical models as in mitochondrial  
16 disease that Andrea touched on, and then on the  
17 opposite end of the spectrum, we are blessed with a  
18 really powerful preclinical model in terms of  
19 structural components of the skeleton.

20 Here, we had shown several years ago that an  
21 increase in TGF-beta signaling in bone was, in  
22 fact, a common mechanism in multiple forms of OI

1 preclinically that impacted either the structure or  
2 the post-translational modification of collagen, as  
3 shown in the top; and that by blocking TGF-beta,  
4 one could effectively restore bone mass and bone  
5 strength, as shown in the micro CT image on the  
6 top, on the right.

7 Now, what is important is that this  
8 mechanism is reflective of the broad connective  
9 tissue disease because, in fact, the pulmonary  
10 disease that we see as an altered alveolarization  
11 of the lung, shown on the left -- wild-type in the  
12 middle, and model recessive OI, and then a partial  
13 rescue with ID11 -- really extended beyond the  
14 skeleton.

15 Next slide, please.

16 Within the context of the BBDC, another very  
17 important, I think, lesson is can we then validate  
18 preclinical findings, such as what I showed you, in  
19 human tissues? This was an example where  
20 leveraging large consortia, we're able to obtain  
21 tissues, bone tissues, from OI patients, as well as  
22 control subjects, and show -- using a multi-omic

1 analysis, that whether you look at histological  
2 features, as shown in the top middle and top left  
3 where you see osteocyte density features of OI, or  
4 RNA sequencing analysis on the top right, where it  
5 showed the increase in TGF-beta signaling that we  
6 saw in the preclinical models, and ultimately on  
7 the protein level, whether by Western blot analysis  
8 or reverse-phase protein array on the  
9 bottom -- that in fact, again, in the human  
10 scenario, there was increased TGF-beta signaling,  
11 again, correlating human and mouse pathologies.

12 Next slide, please.

13 This then drove us, in fact, to perform a  
14 single-dose study, looking at the safety of  
15 fresolimumab, a pan-anti-TGF beta antibody, that  
16 had been studied by, first, Genzyme, and  
17 subsequently Sanofi, in the context of other  
18 diseases such as cancer and sclerotic diseases.

19 We took advantage of that human experience  
20 to, in fact, repurpose this study drug to  
21 osteogenesis imperfecta. And in fact using again  
22 the previous industry experience in terms of dose

1 context but modified for the pharmacodynamics that  
2 we would expect for bone remodeling, we actually  
3 studied the drug over a prolonged period of time  
4 after a single dose, a dose for 1 and 4 milligrams,  
5 and saw biomarker changes, shown below, in terms of  
6 osteocalcin and C-telopeptide, the pro-collagen one  
7 and pro-peptide, which are markers of bone turnover  
8 for resorption and formation, respectively.

9 In fact, we saw a very strong dose response,  
10 which was consistent with the mechanistic data  
11 because, in fact, the features of mouse, as well as  
12 human OI bone, is a high turnover disease where  
13 formation and resorption are uncoupled. In fact,  
14 this suggests that that turnover, the sort of  
15 ineffective high bone turnover, was potentially  
16 corrected in this cohort.

17 Next slide, please.

18 Now what is interesting, though, is in these  
19 even few subjects, we began to see what the  
20 preclinical models also predicted. If you look at  
21 the top slide in the range from mild, to moderate,  
22 to severe OI, you see a listing of both

1 types -- IV, VII and III -- as well as mouse models  
2 that were studied.

3 In fact, the mouse model, by us and as well  
4 by other groups, had shown that there was increased  
5 TGF-beta signaling in all these models. But at the  
6 doses that we used to correct the bone mass, we  
7 only saw a robust correction at the moderate model  
8 under the spectrum, and at the most severe end of  
9 the spectrum, including this case, the JRQ model,  
10 which is a severe connective tissue disease model,  
11 there was insufficient TGF-beta at the doses we  
12 used in the other models to actually lead to  
13 correction of the phenotype.

14 In fact, that's sort of what we saw in terms  
15 of phenocopy and what we see in the human patients.  
16 We see a robust increase in bone mass, which is  
17 quite significant, given the context of how we know  
18 osteoporosis drugs work in general, that at 3 and  
19 6 months, in these models, the model form of OI,  
20 kind of IV, but as we moved to some of the more  
21 severe forms, we saw really no significant effect,  
22 and maybe even a decrease, albeit, again, relevant

1 to some of the points brought forth earlier in this  
2 small sample size, that this may have been  
3 confounded by clinical events like fracture and  
4 immobility, given the more severe phenotype.

5 But irrespective, I think this underscores a  
6 couple of key points, that robust preclinical  
7 models may predict not only potential efficacy but  
8 also dose response when we start thinking about the  
9 translation in the human context. Based on these  
10 studies now, in fact Sanofi's moving forward with  
11 this trial, thinking about, in fact, exactly the  
12 type of patients and the genotypes that we'll be  
13 studying in subsequent phases.

14 Next slide, please.

15 That mechanism in terms of the translation  
16 actually can also inform clinical trial data that  
17 were previously unexplained. This is one of the  
18 largest clinical trials that we had performed,  
19 looking at an anabolic that was already FDA  
20 approved at the time for osteoporosis,  
21 teriparatide, in adults with OI.

22 We saw this differential effect in mild OI,

1 on the left, versus more severe OI, on the right.  
2 Interestingly, going in the reverse scenario in  
3 terms of modeling the human scenario with the mouse  
4 data to try to explain the clinical effect, you can  
5 see in the next slide what we found was that, in  
6 fact, the reason we think that there was a lack of  
7 efficacy in the more severe models of PTH was due  
8 to the increase in TGF-beta, because it had been  
9 shown in cell studies by others that TGF-beta can  
10 stimulate PTH receptor insensitivity.

11 In this animal modeling of that context, you  
12 can see inhibition of TGF-beta. Using both  
13 subtherapeutic doses of PTH and 1D11, we had a  
14 synergistic effect causing an actual complete  
15 rescue of the bone mass phenotype, again  
16 underscoring this strong bidirectional translation  
17 in the mouse versus the human data.

18 Next slide, please.

19 Another important element that I think leads  
20 us to begin to think about composite endpoints has  
21 been, in fact, our ability, using this large  
22 cohort, to stratify clinical features like

1 mobility. In this study by Karen Kruger and her  
2 colleagues from our consortium, they were able to  
3 begin to quantify the 6-minute walk test based on  
4 the clinical classification of OI, types I, III,  
5 IV, for example, as well as an additional type V,  
6 which can be common in certain populations. You  
7 can see how, in fact, especially in the more severe  
8 type III, that it may be an effective use in terms  
9 of as a potential endpoint.

10 Next slide, please.

11 Another area we're really beginning to focus  
12 on has been quality of life, and in this case, a  
13 pediatric measure of mobility, both upper  
14 extremity, physical function, and transfer and  
15 basic mobility. And by again incorporating this  
16 into a large natural history study, we're able to  
17 begin to obtain data to really define the  
18 endpoints, in such patient-reported outcomes and  
19 observer-reported outcomes, on how to begin to  
20 power studies, whether they are two-group  
21 comparisons versus a crossover type design, that  
22 was talked about previously.

1           You can see the kinds of numbers that would  
2 be required, again, underscoring that many of the  
3 trials that have been done to date in the context  
4 of bisphosphonates, which, again, I pointed to in  
5 the Cochrane review, were significantly  
6 underpowered when you think about endpoints like  
7 this type of quality-of-life measure.

8           Next slide, please.

9           So really, we can begin to do this not only  
10 in terms of measures that are specific to areas of  
11 the instrument, but also, again, with the different  
12 clinical severities; so type I, type III, and  
13 type IV, again, using in this case in adults, with  
14 an adult tool, the SF-12, a brief version of the  
15 SF-12, we're able to, again, calculate the  
16 potential sample sizes for crossover versus  
17 parallel design. You can see, again, the potential  
18 dramatic numbers that might be needed, depending  
19 upon the clinical types that are being focused on.

20           Next slide, please.

21           Another point I would like to touch on is  
22 that biomarkers will potentially be very important.

1 In fact, biomarkers have been shown to be effective  
2 in the generic, quote, "physiological states," and  
3 one excellent example of this is a type X collagen  
4 biomarker from the growth plate, and was published  
5 previously to be an outstanding marker for linear  
6 growth, in children especially.

7 Again, taking advantage of our consortium,  
8 we performed and asked whether we could use this as  
9 a biomarker for growth. What we found was, in  
10 fact, the effects were quite opposite; that in  
11 especially the shortest patients, shown on the  
12 right, type III and IV, that this biomarker can  
13 actually be distributed widely and even could be  
14 increased, given that these were the shortest  
15 patients. Almost in reverse correlation, that  
16 could be seen in OI patients, again, underscoring  
17 that growth plate dysfunction can affect biomarkers  
18 that previously have been studied to be effective  
19 surrogates.

20 Next slide, please.

21 To end, I think that we have begun to  
22 leverage the BBDC infrastructure and the expertise

1 in the community. I think the industry  
2 partnerships to accelerate downstream studies is an  
3 example. A good example of that has been the  
4 collaboration with Sanofi, but also industry  
5 engagement of investigators broadly, as Ultragenix  
6 and Mereo with anti-sclerostin in OI.

7 In all cases, natural history and  
8 longitudinal data are really beginning to inform  
9 clinical trial design and sample sizes, and then  
10 ultimately, expanding patient advocacy networks to  
11 increase capacity will be the key. I've not had  
12 time to touch on this, but PCORI work at our  
13 consortium, as well as work by our tag partner, the  
14 Osteogenesis Imperfecta Foundation with the Rare  
15 Bone Disease Alliance, is increasing and expanding  
16 these lessons throughout.

17 Next slide, please.

18 I will end there with the acknowledgements  
19 of the many team members that have contributed to  
20 this. Thank you.

21 DR. URV: Thank you, Dr. Lee. That was  
22 truly wonderful.

1           Next, we will move onto Matthias Kretzler.  
2           Dr. Kretzler is a professor of internal medicine,  
3           and he's also a research professor of computational  
4           medicine and biology. He is also the principal  
5           investigator of the Nephrotic Syndrome Study  
6           Network or NEPTUNE.

7           Take it away, Matthias.

8                           **Presentation - Matthias Kretzler**

9           DR. KRETZLER: Tiina, thanks a lot for the  
10          introduction, and thanks a lot for a fascinating  
11          symposium, where I think we are really getting at  
12          the heart of some of the key impediments that  
13          slow-poke us down in the rare disease community.  
14          One of the key features, certainly, we experience  
15          in our disease domains, and what you also heard  
16          from Brendan and Andrea already, is the  
17          heterogeneity of what presents syndromic diseases  
18          to us clinicians.

19          Next slide.

20          You can see my disclosures all available on  
21          this, my employment with the University of  
22          Michigan.

1           Next slide.

2           I would like to use specific cases in our  
3 RDCRN Nephrotic Syndrome Network of Rare Glomerular  
4 Disease, to delineate a strategy, which hopefully  
5 will be applicable to diseases of interest to you  
6 as well, and how we can move from syndromic classes  
7 to mechanistic disease categories, really, using  
8 the incredible advances in translational sciences  
9 we are witnessing right now.

10           In our diseases, in the nephrotic syndrome  
11 field, is a syndromic disease classification that  
12 really brings people together who suffer from  
13 glomerular filtration barrier failure, heavy  
14 proteinuria, general [indiscernible] stage, and  
15 loss of kidney function. But as you have heard by  
16 the speakers beforehand, this is a highly  
17 heterogeneous disease. We know by now that there  
18 are more than 65 different monogenetic lesions and  
19 different genes that can cause a disease, and the  
20 series of environmental exposures can also lead to  
21 loss of kidney function. They are highly variable  
22 along the same lines as you heard and familiar.

1 It's the same lesions, and we see differences in  
2 manifestation from clinically silent proteinuria to  
3 rapid loss of kidney function in childhood.

4           So how can we get a handle on that  
5 heterogeneity? Here, we have the opportunity as  
6 nephrologists, that we do actually obtain, as part  
7 of the diagnostic workup of our patients,  
8 fine-needle percutaneous kidney biopsies for  
9 histological diagnosis, and that gives us,  
10 obviously, a window to define the structural damage  
11 patterns present at the time in the patient's  
12 history at a biopsy visit.

13           We also can use the emerging molecular  
14 strategies to define the molecular stage in a cell  
15 and tissue context-specific manner of a given  
16 patient at the given time. In addition, in kidney  
17 diseases, we have the special advantage that we can  
18 get liquid biopsies. We can get urine samples that  
19 carries cells, molecules, metabolites, proteins  
20 from the affected nephrons into the urine, and are  
21 readily available then for biopsies.

22           And over the last six years, we were very

1 fortunate that cell biologists have developed  
2 important stem cell derived kidney organoids as  
3 excellent patient and individual specific model  
4 systems of the alterations of the glomerular  
5 filtration barrier.

6 Next slide.

7 With this approach, we now can generate deep  
8 clinical phenotypes, and in our cohort we capture  
9 over 1100 of those patients with the structural  
10 patterns of the disease, and then to continue  
11 genetic and genomic disease pathophysiology to  
12 define cross-cutting disease mechanisms if we have  
13 multiscalar data integration platform in place to  
14 do that around our prospective cohort study --

15 Next slide.

16 -- so that we can actually identify the  
17 different outcomes in prospectively ascertained  
18 patient cohorts. We can link these outcomes to the  
19 determinants at baseline and see which of these are  
20 good and poor, and then obviously mine those  
21 patients with poor outcomes, what are the  
22 underlying molecular events, and bring them to

1 targeted therapies.

2 Most excitingly over the last six years, we  
3 were able to leverage particularly biofluids of  
4 urine-based assays. We actually developed  
5 patient-level activity assessment of the molecular  
6 mechanisms putting their nephrons at risk, and  
7 thereby on an individual patient level can assign a  
8 disease activity and the given time, and then bring  
9 these patients to the respective trials.

10 Next slide.

11 This really is a philosophy which we  
12 envisioned in the NEPTUNE study funded by the NIH  
13 now for 13 years. From the get-go, we take these  
14 observational cohort studies to functionally define  
15 our diseases for improved mechanistic disease  
16 stratifications so that we can have an expert panel  
17 categorize patients, and bring those patients to  
18 the targeted therapies; so we break the conundrum  
19 that we had multitudes of clinical trials in our  
20 space failing, despite the fact that we know that  
21 some of these compounds were active, but only in a  
22 small subsegment of the patients.

1           Next slide.

2           With this philosophy in place, we have  
3           established similarity like the other rare disease  
4           networks you saw today, a comprehensive network  
5           across North America, which bring these people to  
6           studies as early as possible in their disease  
7           course.

8           Next slide.

9           With this, we have established now enriched  
10          partnerships from patients, natural kidney donors,  
11          who were actually instrumental in getting the  
12          network initiated in the first place. Ancillary  
13          projects and data sharing tools are available for  
14          studies inside the U.S. and with our global  
15          research partners around the globe, and very  
16          critically, for all translational and clinical  
17          projects, you have heard today, very robust  
18          public/private partnerships governed by the  
19          framework from the National Institutes of Health  
20          for our federally funded cohort studies.

21          Next slide.

22          This approach, we now have established from

1 over 700 patients active in the study with a  
2 framework of knowledge around the diseases, so that  
3 we can get those syndromic diseases and use  
4 information from cross-sectional demographics to  
5 whole genome sequencing and urine single cell based  
6 RNA profiling approaches to define different  
7 disease strata in patient populations.

8 Next slide.

9 We are bringing that information together  
10 into what we refer to as the NEPTUNE Knowledge  
11 Network, where clinical morphological and molecular  
12 information is brought together. It's searchable  
13 because it is the transSMART data platform for  
14 access from our ancillary study investigators from  
15 public and private entities, and then really  
16 follows three main questions our patient  
17 participants ask us from the get-go, where is my  
18 disease coming from; where is it going to, and what  
19 therapeutic options we have available?

20 Next slide.

21 With this approach, we have over  
22 180 ancillary studies by the international

1 glomerular disease community available, leveraging  
2 different aspects from our cohort studies, and  
3 conversely bringing them the insight from our  
4 studies on clinical samples, data generations, back  
5 to our data sharing instruments to drive our  
6 discovery instruments forward.

7 Next slide.

8 I would like to give you one example  
9 relevant for the disease heterogeneity, where we  
10 use the multiscalar data integration approach to  
11 define mechanistic subgroups and bring them now to  
12 targeted therapies.

13 Next slide.

14 This study started off using the gene  
15 expression signatures, which we have generated from  
16 microdissected nephron, segments out of the kidney  
17 biopsies. There's a NEPTUNE cohort. Here you see  
18 the subcohort, which is syndromically classified  
19 for FSGS and minimal change disease. And yes, you  
20 can see out of these gene expression profiling by  
21 RNA-Seq, we get three main concerns as cluster  
22 groups defined T3, T2, and T1.

1           We then leverage --

2           Next slide.

3           -- and we have a sister cohort in place in  
4 Europe, the ERCB, using the same procurement  
5 strategies and generated identical data, and it's  
6 the same analytical platform. We identified three  
7 subgroups there as well.

8           The next slide.

9           Our sister network, the H3CKD Africa network  
10 from sub-Saharan Africa, we're indeed generating  
11 similar subclasses --

12          Next slide.

13          -- and by carefully evaluating our data  
14 sets, we could show that, indeed, the signatures  
15 between North America and Europe and North America  
16 and African sub-Saharan data sets were tightly  
17 correlated, showing that, indeed, what we are  
18 capturing is a robust signal.

19          As you can see on the left lower panel, our  
20 conventional FSGS and minimal change diseases were  
21 actually contributing to each of these three  
22 clusters, confirming our initial hunch that, yes,

1 these were syndromic and not mechanistically  
2 defined studies.

3 The beauty of the expression-based  
4 classification of patients is that you can look on  
5 this --

6 Next slide.

7 -- and you can actually ask what is  
8 different between cluster 3 and cluster 1 and 2,  
9 for example. And in this specific instance, using  
10 different bioinformatic data mining strategies with  
11 network analysis and upstream regulators, we  
12 identified that in this specific setting, the  
13 cluster 3 patients were significantly different  
14 from cluster 1 and 2, mainly due to TNF-driven  
15 differential regulation off the kidney tissue in  
16 the expression profiling studies.

17 Next slide.

18 That got us very excited because our study  
19 teams on the experimental trial side already had  
20 tested the TNF inhibitor on adalimumab, the  
21 Nephron 2 trial and the NEPTUNE framework, and had  
22 to stop the study due to futility because only

1 20 percent of the patients responded with the  
2 treatments without an ability to increase  
3 stratified patients for targeted therapies at that  
4 time.

5 Next slide.

6 We therefore developed, in the bioinformatic  
7 core facility, out of our expression data sets the  
8 TNF activation score. You saw these regulatory  
9 hierarchies, so you can ask which transcripts are  
10 known to be TNF dependent in their activation  
11 state, and then we took these expression levels of  
12 these TNF-dependent transcripts to identify on the  
13 patient level the activity of the pathway in the  
14 kidney tissue.

15 In these waterfall plots across North  
16 American, European, and the African cohorts, you  
17 indeed can see a high heterogeneity of the TNF  
18 activation score across the study participants with  
19 the cluster 3 patients showing the highest activity  
20 scores present. Well, that's a good starting  
21 point, so we could at this time now enter a study  
22 to obtain tissue biopsies, profile, and then bring

1 patients to targeted therapies.

2 Next slide.

3 However, the group asked can we do more?

4 Can we identify where these TNF signals are coming

5 from and develop non-invasive surrogates of those?

6 Here, we take advantage of the fact that we now can

7 assess transcripts in the cell-type specific manner

8 in a single nuc RNA sequencing data sets of our

9 hierarchical --

10 Next slide.

11 -- NEPTUNE biopsies. We were able to

12 identify several of the downstream transcription

13 targets of the TNF pathways. And as you can see in

14 these bubble plots, among the panels of cells from

15 podocytes to proximal tubular cells, the TNF

16 activation low in blue and TNF activation high in

17 red, the activation is actually taking place across

18 many different similar compartments, so an

19 intrinsic activation state of the kidney and not

20 just of infiltrating immune cells.

21 Next slide.

22 With this, we now were able to ask, A, do we

1 have an adequate model of this ubiquitous  
2 activation of kidney under stress with TNF  
3 precedent here? We took advantage of our  
4 participation in the NCATS kidney on a chip and  
5 Trial on a Chip effort to test if we can use our  
6 kidney organoids as a model system for TNF  
7 activation.

8 Next slide.

9 And indeed in the organoid system, we can  
10 show that it's the same TNF activation score  
11 transcriptionally based, which works in human  
12 biopsies, and showed beautiful dose and time  
13 responses to TNF stimulation of the kidney  
14 organoids in a dish.

15 On the right side, you can see that, in  
16 addition, we not only saw robust activation of the  
17 transcriptional readouts, but supported and coded  
18 by these transcripts were also determined in the  
19 organoid supernatant. I can get indeed some of  
20 these parameters might be capturable in a  
21 non-invasive manner.

22 Next slide.

1           With this, we evaluated, similar to the  
2           in vivo state of the kidney biopsies, a similar  
3           contribution. And similar to the kidney tissue in  
4           the patients, in the kidneys on a dish we saw also  
5           very robust activation of the downstream  
6           transcriptional activation surrogates of the TNF  
7           pathways, interstitial tubular cells, and  
8           glomerular filtration cells and podocytes.

9           Next slide.

10           With this, everything enhanced, a biomarker  
11           core facility of Neptune 2, to the right, dove into  
12           the existing proteomic data sets we had on file  
13           from our participants, and now correlated the blood  
14           and urine proteome signatures for the downstream  
15           TNF activation surrogates with the intrarenal  
16           transcripts.

17           This you can see among a panel of known  
18           TNF-dependent transcripts, CCL2, uMCP-1, and TIMP1,  
19           and showed tight correlation between tissue and  
20           urine normalized for urine creatinine and allowed,  
21           actually now in a non-invasive manner, to assess  
22           the intrarenal tissue activation score.

1           Next slide.

2           With this, it is now possible, on an  
3 individual patient level, dynamically to measure  
4 the TNF activation inside the kidney in a given  
5 patient at a given time point, and then compare  
6 that patient with the existing NEPTUNE population,  
7 and map the activity state of the patient among a  
8 spectrum of glomerular diseases already on that  
9 cohort.

10          Next slide.

11          With this approach, we now return back in  
12 the experimental therapeutics working group in the  
13 RDCRN. NEPTUNE at right initiated a phase 2  
14 proof-of-concept study, where now we use the TEB,  
15 the target engagement biomarker, assays to bring  
16 the right patients to the TNF inhibitions, and then  
17 follow them throughout the TNF exposure to see if,  
18 A, the biomarker, and B, the outcome proteinuria is  
19 responsive to the intervention.

20          Next slide.

21          This was an example of how one can use, in  
22 our specific instance, tissue level but

1 potentially, although non-invasive, surrogates to  
2 map a specific pathway activity.

3 Next slide.

4 We have seen in our field excitingly, finally,  
5 the influx of the reality of potential molecular  
6 mechanisms targeted by the network. And one of the  
7 key questions now is, as we see multiple agents  
8 being called to these heterogeneous diseases, can  
9 we develop a strategy to bring the right patients  
10 to the right trials, at the right time? That's a  
11 philosophy --

12 Next slide.

13 -- which we are pursuing with the NEPTUNE  
14 Match approach, where we take our knowledge  
15 network, we define non-invasive surrogate -- as I  
16 have shown you for the TNF inhibition -- for the  
17 clinical trials that are being called to our  
18 patients with a rare disease.

19 We profile these patients on the clinical  
20 side for the activation state of devised molecules,  
21 potential surrogates for target activation in the  
22 trials, and then bring these patients to the

1 various trials of the independently executed  
2 clinical trials by our NEPTUNE Match private  
3 partners to undergo the clinical trial exposure.

4 At the end of the trial, patients return  
5 their outcomes back to our predicted target  
6 activation. We can see if this stratification  
7 approach indeed enriches for outcomes and gives the  
8 expected power and frequency.

9 Next slide.

10 This is a novel concept, at least for our  
11 rare disease space. Obviously, in oncology there  
12 are precedents of how to execute that. We have  
13 developed a rigorous training protocol for our  
14 network to transmit that information robustly to  
15 map, measure, and report our findings to study  
16 participants and clinician investigators, and then  
17 to have robust statistical models in place with the  
18 retrospective assessments of kidney health  
19 outcomes.

20 Next slide.

21 With this I would like to wrap up. I hope I  
22 have given you an overview of how integration of

1 multiscalar data sets in heterogeneous diseases can  
2 help you to identify a subgroup of patients of  
3 molecular pathways, many of which cut across our  
4 conventional disease categories to bring the right  
5 people to the right trial, at the right time, and  
6 we see the Clinical Trials.gov number of -- several  
7 of the trials who are active in that framework as  
8 we speak in the NEPTUNE framework.

9 Next slide.

10 This has all --

11 Next slide.

12 -- not been possible without the long-term  
13 support from the NIH, from the patient interest  
14 groups, and NEPHURE Kidney International.

15 Next slide.

16 We have a lively rare disease community  
17 cutting across many different knowledge domains,  
18 interest groups, and continents --

19 And final slide.

20 -- to a very dedicated team here in Michigan  
21 who makes all this work fun, even in times of  
22 significant challenges to all of us. Thank you for

1 your attention.

2 **Session 4 - Questions and Answers**

3 DR. URV: Thank you so much. That was  
4 wonderful, Dr. Kretzler.

5 Now we have time for a few questions. Feel  
6 free to submit any questions you might have at this  
7 time. I have a couple here for you all. The first  
8 one is for Dr. Gropman, and the question is, why  
9 would basket trials allow drugs to be approved more  
10 quickly?

11 Dr. Gropman, what do you think about how  
12 basket trials could speed up the whole pace of  
13 trials in drug discovery treatment?

14 DR. GROPMAN: Sure. I think some of the  
15 reasons that come to mind would be you're looking  
16 at more than one disorder at the same time, so  
17 cutting down on the cost and the time.

18 If you have multiple arms representing the  
19 multiple disorders that have both shared and  
20 divergent endpoints, using that aggregate data with  
21 fewer subjects and less time in the interim  
22 analysis could potentially lead to a quicker

1 approval of these types of study designs using the  
2 basket trial, the statistical power with less  
3 subjects, and also the fact that the traditional  
4 way to do clinical studies was to look at one  
5 compound and one disorder, do that trial, then go  
6 back and look at another disorder with that same  
7 compound; so time essence by enrolling multiple  
8 arms, I believe.

9 DR. URV: Terrific. Thank you so much.

10 We have a second question for Dr. Lee.

11 Could tissue engineering be an option in the  
12 treatment of OI?

13 DR. B. LEE: That's an excellent question  
14 and I think could be approached from two contexts.  
15 One is in the context of translation, clinical  
16 translation, and preclinical translation, and then  
17 the second from a clinical efficacy perspective.

18 I'll take the first one. Broadly thinking,  
19 I think tissue engineering approaches, an example  
20 of the preclinical space would be what actually  
21 Matthias touched on and what NCATS has supported in  
22 terms of tissue on a chip.

1           I think one potential, which has not been  
2 exploited in the connective tissue space, is to  
3 actually model on a chip abnormal matrix by  
4 putting, for example, OI cells onto that matrix.  
5 That would be actually very powerful in terms of  
6 screening both biologics and small molecules on  
7 impacts on matrix directly.

8           That's one area that we as a field have not  
9 tackled. We focused on modifying the cellular  
10 components, as I touched on in our work, but it's  
11 been hard to tackle the qualitative issue of that  
12 normal matrix.

13           I think in the clinical space of tissue  
14 engineering, in terms of thinking about whether we  
15 can engineer tissues with cell therapy, for  
16 example, either artificial matrix, or matrices,  
17 there's no question that's in play in the targeted  
18 tissue repair domain.

19           For example, in these more generalized  
20 connective tissue diseases, you can impact, for  
21 example, fractures that occur and/or joint disease,  
22 and there is an absolute application in a more

1 targeted tissue engineering application, and that  
2 of course is still limited by a host of other  
3 different regulatory rules around that.

4 But I would say that's going to be an  
5 important component of all genetic diseases and  
6 rare diseases, where there's a degenerative  
7 component where you lose a tissue and it's not  
8 something you can replace easily in the context of  
9 connective tissue cartilage, for example. Once you  
10 lose it, it's gone. So I think that that aspect of  
11 tissue engineering for it there will be critical.

12 I think systemic treatment is our very high  
13 bar, partly because of just targeting and getting  
14 the tissue in the cells that make that tissue  
15 throughout the whole body. So I think more  
16 systemic treatments will be probably the highest  
17 bar and perhaps lowest likelihood at this point.

18 DR. URV: Okay. Dr. Lee, we have one more  
19 question for you.

20 With multiple candidates in the pipeline for  
21 OI, how will future companies be able to recruit  
22 patients for the disease?

1 DR. B. LEE: That's an excellent question,  
2 and this I think was hopefully -- at least my  
3 belief -- alluded to in the talk that Matthias  
4 gave. I think the approach previously has been  
5 recruit as many people as possible to try and cover  
6 for the heterogeneity. I think that, actually,  
7 recruiting fewer patients, but more homogeneous  
8 patients, whether it is by molecularly stratifying  
9 them, clinically stratifying them, both will be  
10 important.

11 I think we touched on that a little bit in  
12 our consortium. I think if you look at even the  
13 bisphosphonate experiences, the few trials which  
14 did reach an endpoint in terms of fracture were,  
15 not surprisingly, the ones which had the more  
16 homogeneous clinical populations.

17 So I do think, hopefully, companies, as well  
18 as investigators, in general, will begin to really  
19 stratify this in terms of potentially  
20 heterogeneity, or getting towards more homogeneity,  
21 and perhaps also stratifying response, as they are  
22 more mechanistically targeted therapies.

1           As I pointed to, the most severe patients  
2        didn't seem to respond as well to the doses of  
3        TGF-beta. Well, one could approach that by saying,  
4        well, there's more in TGF-beta, and we need to up  
5        the therapy, and that's certainly one possibility.  
6        But another is that there could be another  
7        mechanism that's dominating that group and, hence,  
8        targeting a therapy for that group, specifically in  
9        a true genotype-specific fashion, would be the  
10       answer. So I think there's still a lot of room to  
11       play in the future.

12           DR. URV: Okay.

13           Dr. Kretzler, could you expand on that from  
14        the NEPTUNE perspective as well?

15           DR. KRETZLER: Yes, Brendan, I think this is  
16        absolutely on target. This is why the networks and  
17        the cohort studies can become so powerful, because  
18        on one hand, that prospectively can define what  
19        subsegments in your populations are present and  
20        have reached disease subtype present in play; what  
21        is the expected trajectory of these disease  
22        subtypes, the outcomes, and their response to

1 current exposures.

2 Then use that information, the genetically  
3 associations and potentially invasive or  
4 non-invasive surrogates to stratify your patient  
5 populations going forward, and that then starts to  
6 scale. If you have multiple agents coming into the  
7 domain, you can identify which segment of your  
8 population is most beneficial.

9 And that might not be a scalable solution if  
10 you are one molecule or one trial strategy, but if  
11 you bring a community together where you now have  
12 multiple efficacies together, then there's a strong  
13 scientific and I think also a strong economic role  
14 in collaborating along those platforms in an  
15 intelligent basket trial design framework.

16 DR. URV: Thank you, Dr. Kretzler.

17 I have one more question that I'd like each  
18 of you to answer, and that is, you come from  
19 consortia that are well established and that have  
20 been around for many years. My question to you is,  
21 if you're a new academic researcher in a newly  
22 established or a very young area of research for

1 rare disease, what are the most important things to  
2 have in place? I guess we could start in the order  
3 that you presented.

4 Andrea?

5 DR. GROPMAN: Yes. So I think definitely an  
6 infrastructure that supports clinical research;  
7 access to the patient population; two other  
8 experienced investigators who have done clinical  
9 trials is important; and access to the FDA  
10 resources as part of this conference.

11 I think really thinking broadly about where  
12 you want to go with it. I think thinking  
13 creatively, thinking of efficacy, or efficiency, of  
14 patient evaluation to phenotype them. The  
15 longitudinal study is the most valuable resource  
16 that a lot of us have in the consortium in terms of  
17 phenotyping the patients and figuring out which  
18 subset of patients, as Matthias said, would be  
19 suitable for which types of clinical trials,  
20 especially if they're competing trials going on.

21 So I think having access to that and also  
22 working with more established consortia that have

1 had experience going forward.

2 DR. URV: Dr. Lee?

3 DR. B. LEE: I think there are two things I  
4 would highlight in terms of my experience. One is  
5 certainly a very passionate and hopefully organized  
6 and perhaps mature patient advocacy partner. In  
7 the context of the Brittle Bones Consortium, we  
8 were successful partly because we built on an  
9 infrastructure that the Osteogenesis Imperfecta  
10 Foundation invested in.

11 I think that can be extremely galvanizing  
12 and somewhat out of the control of that new  
13 investigator that you posited, but that certainly,  
14 I think, is critical.

15 I think the second are other investigators  
16 who are invested in this. In many rare diseases, I  
17 think we recognize that it is a team. Any single  
18 individual really can't achieve and get to the  
19 goal. So I would say the patient advocacy  
20 organization is absolutely critical and maybe the  
21 most important, and then having other investigators  
22 who are willing to play on the team together.

1 DR. URV: Thank you.

2 Dr. Kretzler?

3 DR. KRETZLER: Yes, exactly. I think it's  
4 all about the patient, and listening carefully to  
5 them; also connecting them to other patient  
6 interest groups who have significant  
7 experience -- obviously not -- in the framework  
8 DRDRI are offering can be great I said also for  
9 their learning patient interest group.

10 Then understanding that this is team science  
11 and that if you want to go long, you have to go  
12 together, and bringing people together who are  
13 willing to play in a team science framework,  
14 understanding that in our current time and age,  
15 there are so many research opportunities and so  
16 many different directions, that academic and  
17 private entities can benefit from the multifaceted  
18 approach as long as we generate creative solutions  
19 who will make everybody win, and most of all, our  
20 patients in the end.

21 This is where genomic medicine really has  
22 been a fundamental gamechanger since we started our

1 networks, and there are incredible resources and  
2 infrastructures from NIH. And in many instances  
3 there are local entities available, and networks of  
4 people on this screen to give you advice to whom to  
5 connect, where and when, and how to move your  
6 strategy forward most effectively together.

7 DR. URV: Okay.

8 Here is one more question that any of you  
9 could answer or all of you could answer.

10 How do you envision real-world evidence  
11 being used to generate data as a control arm in a  
12 clinical trial versus placebo or active control  
13 trial?

14 (No response.)

15 DR. URV: Anyone want to tackle that one?

16 DR. B. LEE: Maybe I'll try it. It's  
17 probably a question more for our FDA colleagues.

18 DR. URV: Yes.

19 DR. B. LEE: Really, I think we are very  
20 engaged in this topic and beginning to reach out to  
21 patients to get data at -- point of care is  
22 probably not the right term, but really more in the

1 community, so more, quote, "how we would think of  
2 real-world."

3 At this point, from what I've heard, it's  
4 certainly a very powerful tool as additional  
5 evidence to the single, adequate, well-controlled  
6 trial. I'm not sure I've seen that that alone is  
7 sufficient and, frankly, may not be such a great  
8 idea, at least in the current framework; and the  
9 FDA colleagues can comment on this. But it seems  
10 as if that's the first pivotal approval that may  
11 really impact some of the more downstream  
12 developments. So that's my take on this at this  
13 point.

14 DR. URV: Okay.

15 DR. KRETZLER: The good news is our real  
16 world is changing quickly, so even real-world  
17 evidence can be leveraged to define patients in a  
18 mechanistic term because it would be very important  
19 to keep in line what we just discussed.

20 DR. URV: Any final words? Dr. Gropman?

21 DR. GROPMAN: I think what my colleagues  
22 have said is that we haven't really gone that route

1 yet, but we need to think about creative approaches  
2 to studying drugs and other therapeutics in rare  
3 disease. And again, I'd be interested to hear what  
4 our FDA colleagues would think of accepting that.

5 **Adjournment**

6 DR. URV: I do think that they mentioned  
7 that in an earlier session, but I don't want to  
8 speak for them. So I think we can go back and  
9 replay the recording and find an answer to that.

10 I think if we don't have any more  
11 questions -- I don't see any more -- I'd like to  
12 thank all of our speakers today for their wonderful  
13 presentations. I'd like to thank the meeting  
14 organizers and the meeting managers who have run  
15 this meeting seamlessly today. Thank you for  
16 everyone.

17 Tomorrow morning, we start up again at  
18 9 a.m., and we will have two more sessions. So  
19 thank you very much, everyone. Have a good day.

20 (Whereupon, at 4:00 p.m., the meeting was  
21 adjourned.)

22