

UNITED STATES OF AMERICA
DEPARTMENT OF HEALTH AND HAN SERVICES
FOOD AND DRUG ADMINISTRATION

+++

CENTER FOR DEVICES AND RADIOLOGICAL HEALTH

+++

MEDICAL DEVICES ADVISORY COMMITTEE

+++

GENERAL AND PLASTIC SURGERY DEVICES PANEL

+++

JULY 28, 2022

9:00 a.m. EST

Webcast via Microsoft Teams

PANEL MEMBERS:

Hobart W. Harris, M.D., M.P.H.	Voting Chair
Karla V. Ballman, Ph.D.	Voting Member
Mary H. McGrath, M.D., M.P.H.	Voting Member
Murad Alam, M.D.	Voting Member
Karen E. Burke, M.D., Ph.D.	Temporary Non-Voting Member
Paula Bourelly, M.D.	Temporary Non-Voting Member
Maral Skelsey, M.D.	Temporary Non-Voting Member
Paul Pisarik, M.D.	Temporary Non-Voting Member
Maria Suarez-Almazor, M.D.	Temporary Non-Voting Member
Neil Farber, M.D.	Temporary Non-Voting Member
Renata Block, PA-C	Temporary Non-Voting Member
Laura P. Bush, DMSc., PA-C, DFAAPA	Temporary Non-Voting Member
Lisa Gualtieri, Ph.D., ScM.	Temporary Non-Voting Member
Steven J. Skates, Ph.D.	Temporary Non-Voting Member
Katalin Roth, M.D., J.D.	Temporary Non-Voting Member
Veronica Rotemberg, M.D., Ph.D.	Temporary Non-Voting Member
P. LaMont Bryant, Ph.D.	Industry Representative

Translation Excellence
3300 South Parker Road, Suite 200
Aurora, CO 80014

Deneen Hesser, MSHSA, RN

Patient Representative

Candace Nalls

Designated Federal Officer

FDA Representatives – Silver Spring, MD:

Long H. Chen, M.D. — CDRH/OPEQ/OHTIV
Acting Division Director Division of Health Technology, IVA

Binita Ashar, M.D. — CDRH/ODE
Division Director, Division of Surgical Devices

FDA Presenters:

Jennifer Bai, M.D.
Henry Lee, M.D.
Neil R.P. Ogden
Scott L. Kominsky, Ph.D.

Invited Presenters:

Glenn Cohen J.D.
Adewole Adamson, M.D., MPP

Summation Speakers:

Rudy Andriani, M.S.
Jianting Wang, Ph.D.

INDEX

	PAGE
CALL TO ORDER — Hobart W. Harris, M.D., M.P.H.	(4)
OPENING REMARKS; INTRODUCTION OF THE COMMITTEE	(4)
CONFLICT OF INTEREST STATEMENT — Candace Nalls	(7)
INTRODUCTORY REMARKS — Colin Kejing Chen, Ph.D.	(9)
FDA'S OVERSIGHT OF SLA DEVICES	(13)
Overview of Skin Lesions — Jennifer Bai, M.D.	(13)
Skin Lesion Analyzer Device Landscape — Jianting Wang, Ph.D.	(19)
Diagnostic Accuracy and Ground Truth — Henry Lee, M.D.	(24)
Benefit/Risk and Prevalence — Scott L. Kominsky, Ph.D.	(31)
QUESTIONS FROM THE PANEL	(34)
EXTERNAL SPEAKER PRESENTATIONS	(47)
Glenn Cohen J.D.	(47)
Adewole Adamson, M.D., MPP	(54)
QUESTIONS FROM THE PANEL	(58)
OPEN PUBLIC HEARING	(64)
PANEL DELIBERATIONS — Hobart W. Harris, M.D., M.P.H.	(75)
DISCUSSION OF FDA QUESTIONS	(111)
Question 1	(112)
Question 2	(128)
Question 3	(146)
CLOSING COMMENTS — Long H. Chen, M.D.	(156)
PANEL ADJOURNMENT	(161)

1 MEETING

2

3 DR. HARRIS: [9:00 a.m.] I would like to call this meeting of the General and
4 Plastic Surgery Devices Panel to order. I am Dr. Hobart W. Harris, the Chairperson for
5 this Panel, and I'm a Professor of Surgery at University of California San Francisco. I
6 note for the record that the members present constitute a quorum as required by 21
7 CFR part 14. I would also like to add that the panel members participating in today's
8 meeting have received training in FDA device law and regulations.

9 For today's agenda, the panel will discuss the risk and benefits of skin lesion
10 analyzers (SLAs) for external use. The panel will be asked to recommend the FDA
11 whether SLAs should be down classified from class III to class II, subject to general and
12 special controls. The panel will be asked to discuss the types of evidence, including
13 clinical evidence that would be helpful to support certain indications, as well as
14 appropriate special controls necessary to mitigate the risk to health and assure the
15 safety and effectiveness of these devices.

16 Before we begin, I would like to remind the public and panelists that this is a
17 nonvoting meeting. Ask our distinguished committee members the FDA attendees to
18 virtually introduce themselves. Committee members, please turn on your video
19 monitors if you have not already done so and unmute your microphones before you
20 speak. I will call your name. Please state your area of expertise, your position, and
21 affiliation. Carla Ballman.

22 DR. BALLMAN: Hi. I'm Carla Ballman. I am Chief of the Division of Biostatistics
23 at Cornell Medicine in New York, and I am a biostatistician.

Translation Excellence
3300 South Parker Road, Suite 200
Aurora, CO 80014

1 DR. MCGRATH: Good morning. I'm Mary H. McGrath. I'm a Professor of
2 Surgery Emerita at the University of California San Francisco, Division of Plastic
3 Surgery.

4 DR. ALAM: Good morning, my name is Murad Alam. I am Professor and Vice
5 DR. HARRIS of Dermatology at Northwestern University in Chicago, and I'm a
6 dermatologist.

7 DR. BURKE: I'm Dr. Karen Burke. I'm a board-certified dermatologist, and I am
8 a Clinical Professor at Mount Sinai Icon School of Medicine in New York.

9 DR. BOURELLY: Good morning, I'm Paula Bourelly. I'm a private practitioner in
10 the area of dermatology, clinic dermatology, in Olney, Maryland.

11 DR. SKELSEY: Good morning. I'm Maral Skelsey. I'm a dermatologist and
12 neurosurgeon in Chevy Chase, Maryland and Clinical Associate Professor of
13 Dermatology at Georgetown University Medical Center.

14 DR. PASARIK: My name is Paul Pisarik. I'm a private practice board-certified
15 physician in Tulsa, Oklahoma.

16 DR. SUAREZ ALMAZOR: Good morning, I'm Maria Suarez-Almazor. I'm a
17 professor at the University of Texas, M.D. Anderson Cancer Center. I am a clinical
18 epidemiologist and I am an internist in rheumatology.

19 DR. FARBER: Good morning, I'm Neil Farber. I'm Professor Emeritus of Clinical
20 Medicine at University of California San Diego in the Division of General Internal
21 Medicine, and I'm a general internal medicine physician.

1 DR. BLOCK: Good morning. My name is Renata Block. I am a dermatology
2 physician assistant practicing in private practice with Dr. Monica Rani in Chicago,
3 Illinois.

4 DR. BUSH: Good morning. I'm Laura Bush. I'm a certified physician assistant
5 practicing in dermatology in Fayetteville, Georgia.

6 DR. GUALTIERI: Good morning, I'm Lisa Gualtieri. I'm an associate professor
7 at Tufts University School of Medicine in the Department of Public Health and
8 Community Medicine.

9 DR. SKATES: Morning. Steven Skates, I'm Associate Professor of Medicine at
10 Massachusetts General Hospital at Harvard Medical School. I'm a biostatistician by
11 training with a focus on early detection of cancer.

12 DR. ROTH: Good morning. My name is Katalin Roth. I am a Professor of
13 Medicine at George Washington University in Washington, D.C., and I am a geriatrician
14 and palliative medicine specialist.

15 DR. ROTEMBERG: Good morning. I am Veronica Rotemberg. I'm a
16 dermatologist at Memorial Sloan Kettering Cancer Center and my expertise is
17 dermatology, imaging, and informatics.

18 MR. BRYANT: Good morning. LaMont Bryant, Worldwide Vice President of
19 Regulatory Affairs, Ethicon, Johnson & Johnson, and I'm the industry representative.

20 MS. HESSER: Good morning. I'm Dineen Hester, a long-term melanoma
21 survivor, an oncology nurse by profession, and I'm here as a patient representative.

1 DR. CHEN: Good morning. My name is Long Chen. I'm the Acting Division
2 Director for the Division of General Surgical Devices with the Agency.

3 DR. ASHAR: Good morning, everyone. My name is Binita Ashar. I'm a general
4 surgeon, and I'm the Director of the Office of Surgery and Infection Control Devices at
5 the Center for Devices and Radiological Health (CDRH) at the Food and Drug
6 Administration (FDA), the group that has oversight over these devices. We appreciate
7 your participation today. Thank you.

8 DR. HARRIS: Thank you all. Candace Nalls, the Designated Federal Officer for
9 today's General and Plastic Surgery Devices Panel, will make some introductory
10 remarks.

11 DR. NALLS: Good morning. I will now read the Conflict of Interest Statement.
12 The Food and Drug Administration, FDA, is convening today's meeting of the General
13 and Plastic Surgery Devices Panel of the Medical Devices Advisory Committee under
14 the authority of the Federal Advisory Committee Act, FACA, of 1972. With the
15 exception of the industry representative, all members and consultants of the panel are
16 special government employees or regular federal employees from other agencies and
17 are subject to federal conflict of interest laws and regulations. The following information
18 on the status of this Panel's compliance with conflict of interest laws covered by, but not
19 limited to, those found at 18-USC subsection 208 are being provided to participants in
20 today's meeting and to the public. FDA has determined that members and consultants
21 of this panel are in compliance with federal ethics and conflict of interest laws. Under
22 18 USC subsection 208, Congress has authorized FDA to grant waivers to special

1 government employees and regular federal employees who have financial conflicts
2 when it is determined that the Agency's need for a particular individual's services
3 outweighs his or her potential financial conflict of interest. Related to the discussions of
4 today's meeting, members and consultants of this panel who are special government
5 employees or regular employees have been screened for potential financial conflicts of
6 interest of their own, as well as those imputed to them, including those of their spouses
7 and minor children, and, for purposes of 18 USC subsection 208, their employers.
8 These interests may include investments, consulting, expert witness testimony,
9 contracts, grants [...], teaching, speaking, writing, patents, and royalties, and primary
10 employment.

11 For today's agenda, the panel will discuss the topic of skin lesion analyzer
12 technology and its application to detecting skin cancers in various patient care settings.
13 The skin lesion analyzer devices on which the discussion is focused are algorithm-
14 based devices for adjunctive detection of various skin lesions, including skin cancers.
15 We will refer to these computer algorithm-aided devices for adjunctive detection of
16 lesions suspicious for skin cancers as Skin Lesion Analyzes, SLA.

17 Based on today's meeting and all financial interests reported by the panel
18 members and consultants, no conflict of interest waivers have been issued in
19 accordance with 18 USC subsection 208.

20 Dr. Bryant is serving as the industry representative acting on behalf of all related
21 industry. Dr. Bryant is employed by Ethicon Inc., a subsidiary of Johnson and Johnson.
22 We would like to remind members and consultants that if the discussions involve any

1 other members or firms not already on the agenda within which an FDA member has a
2 personal or imputed financial interest, the participants need to exclude themselves from
3 such involvement, and their exclusion will be noted for the record. FDA encourages all
4 other participants to advise the Panel of any financial relationships they may have with
5 any firms at issue. A copy of this statement will be available for review and will be
6 included as part of the official transcript. Thank you.

7 For the duration of the General and Plastic Surgery Devices Panel Meeting on
8 July 28, 2022, Dr. Neil Farber, Paul Pisarik, Katalin Roth, and Maria Suarez-Almazor
9 have been appointed to serve as temporary nonvoting members. For the record, Dr.
10 Farber serves as consultant to the Nonprescription Drugs Advisory Committee at the
11 Center of Drug Evaluation and Research, CDER. Dr. Pisarik and Dr. Roth serve as
12 voting members in the Nonprescription Drugs Advisory Committee in CDER. Dr.
13 Suarez-Almazor serves as a consultant to the Drug Safety and Risk Management
14 Advisory Committee in CDER. These individuals are special government employees
15 who have undergone the customary conflict of interest review and have reviewed the
16 materials to be considered at this meeting. The appointments were authorized by
17 Russell Fortney, Director, Advisory Committee Oversight and Management Staff on
18 June 29, 2022.

19 Before I turn the meeting back over to Dr. Harris, I would like to make a few
20 general announcements. In order to help the transcriber identify who is speaking,
21 please be sure to identify yourself each and every time that you speak. The press
22 contact for today's meeting is Audra Harrison. Thank you very much. Dr. Harris?

1 DR. HARRIS: Thank you, Ms. Nalls. I would like to invite the FDA to start their
2 presentation. I would like to remind public observers at this meeting that while this
3 meeting is open for public observation, public attendees may not participate except at
4 the specific request of a Panel DR. HARRIS. The FDA will have 1 hours and 15
5 minutes to present. FDA, you may now begin your presentation.

6 DR. CHEN: Good morning. My name is Dr. Colin Kejing Chen. I am the Team
7 Leader for the Cancer Diagnosis and Treatment Devices Team in the Office of Surgical
8 and Infection Control Devices at FDA. We are delighted to be here to convene the
9 General and Plastic Surgery Devices Panel. On behalf of the FDA Organizing Team, I
10 would like to welcome everyone in the Advisory Committee, our external speakers, and
11 everyone joining us for the discussion of skin lesion analyzer devices and the key
12 considerations for their regulation. Welcome.

13 I want to start by clarifying that these next two days will be devoted to two
14 independent meetings. Today's meeting is a general issues meeting. FDA is seeking
15 the Panel's input for regulations of future devices that may be intended to identify skin
16 lesions. After you hear our presentations and additional presentations from
17 stakeholders, we will ask the Panel to discuss and provide recommendations about
18 three specific aspects. We will ask you how sensitive and specific these devices should
19 be to provide benefit for the user that outweighs the risks. The sensitivity and specificity
20 are determined by comparing the device output to the true diagnosis, or ground truth.
21 We will ask for your input on different options in determining the ground truth. Lastly,
22 devices that assess skin need to be tested for how well they perform across the full

1 range of individuals in the United States of America. We will discuss and ask for your
2 input on options to regulate the devices so that they perform as intended for the range
3 of patients who will use them.

4 Tomorrow's panel will be limited to discussions of two device types that are
5 already FDA approved. Both of these were approved with a very specific use: a
6 dermatologist only to provide additional information on whether to biopsy lesions
7 suspicious for melanoma. These devices are currently regulated as high risk, or
8 Class 3, devices. We will provide more detailed information on the two devices and the
9 proposed potential changes in how those may be regulated. It is important to note that
10 these are two independent Panel meetings, today and tomorrow. But we will begin
11 today's final meeting by presenting information that is important for you to consider
12 when you discuss the questions this afternoon. We include: A clinical overview of the
13 three most common skin cancers; the existing approaches to diagnosis; and how future
14 skin lesion devices, or SLAs, may be used by providers and patients. We will then hear
15 presentations from outside speakers. This afternoon, we will turn our attention to you,
16 our Panelists, as you discuss these topics and answer questions.

17 Skin cancer is one of the most common malignancies. 20 percent of Americans
18 will develop some form of skin cancer in their lifetime. Early detection is key of good
19 outcomes, particularly for melanoma. Diagnosis of skin cancer relies on examination
20 and biopsy by dermatologists. However, the outreach waiting time to see the
21 dermatologist is long, with one study citing an outreach of 30 days or more for new
22 patients.

1 Skin lesion analyzers could play an important role in early diagnosis if they are
2 available to non-dermatologist health care providers or even to laypersons. There is
3 growing literature describing skin lesion analyzers powered by artificial intelligence or
4 machine learning, and FDA has received inquiries about the regulation of such devices.
5 Some of the devices reported in the literature analyze photographs or dermoscopic
6 images or a skin lesion to detect visible patterns associated with malignancy, much like
7 dermatologists do with video examination. Other devices may be applied directly to the
8 skin to monitor physiological signals or biochemical changes that can be associated
9 with malignancy.

10 Some skin analyzers are intended for use by dermatologists. Others are to
11 support non-dermatology health care providers in deciding whether to refer a patient to
12 a skin lesion expert. In addition, there is increasing interest in skin lesion analyzers for
13 use by laypersons for self-monitoring and analysis of skin lesions. Many of those are
14 smart phone-based apps.

15 Since these users have different levels of knowledge, the way that they rely on
16 these devices may vary. These SLAs provide the user with diagnosis information based
17 on the scientific evidence. It is clear that the level of knowledge is very different for
18 each user group, with dermatologists specially trained for this area, primary care
19 providers relatively broadly trained in this area, and laypersons typically lacking
20 experience in this area. Although, there is a new trend. Laypersons increasingly rely
21 on the internet for initial health inquiries. So what performance levels the device should
22 reach for different users to optimize their performances is an important question.

1 Skin lesion analyzers may be applied differently. For example, some users may
2 place a device on a specific region that they have identified as suspicious, and ask, “Is
3 this lesion cancerous or not?” or, “Is this lesion a melanoma, or is it a pigmented basal
4 cell carcinoma?” Others may use the skin lesion analyzers to assess any of their moles
5 as a skin cancer screening tool. In this case, the use is to determine whether any of
6 their moles warrant a visit to the doctor. When used for screening, the a priori likelihood
7 of any one lesion being cancerous is lower than when the device is used to assess a
8 selected region that has been deemed to be suspicious. Therefore, devices for
9 screening as an aid to clinical diagnosis may have different considerations for sensitivity
10 and specificity. With these different users and use contacts demand, and with the need
11 to balance the benefits and risks, FDA is commencing this final meeting to promote an
12 open public discussion with involvement of our stakeholders.

13 As you listen to the presenters and outside speakers today, please keep our
14 questions in mind. First, which options for determining the actual, or “ground truth”,
15 diagnosis during clinical trials are appropriate – histology, video diagnosis by single or
16 multiple dermatologists, or other means? Second, what are acceptable thresholds for
17 sensitivity and specificity? Should it be different for melanoma versus other skin
18 cancers? Should the threshold be different if the device will be used by dermatologists,
19 versus a primary care physician, versus a layperson? And third, given the different
20 incidents and a different appearance of skin cancer across the complete U.S.
21 population, what regulatory approaches will support getting accurate devices to market
22 that perform as intended in all the potential United States patients?

1 melanoma, with a combined incidence of over 5 million new cases annually. However,
2 these grow slowly and are rarely lethal. Melanoma, though less common, spreads
3 rapidly and results in the greatest number of skin cancer deaths. The estimated number
4 of new cases in 2022 is nearly 100,000 cases, with an estimated 8,000 deaths. The
5 cost of melanoma for the healthcare sector is estimated to be \$3 billion a year, with an
6 indirect individual cost of 20 years of potential life and the intangible cost of individual
7 patient pain and suffering. Therefore, skin lesion analyzes prominently focus on
8 identification of melanoma to allow early detection and treatment.

9 Basal cell carcinoma, the most common type of skin cancer, appears most
10 commonly on the face due to sun exposure. It classically presents as a skin-colored
11 papule with a pearly appearance and prominent capillaries. However, it can have
12 varied presentations in different populations and ethnicities, as shown in the image
13 below, which is an example of a superficial basal cell carcinoma in a darker-skinned
14 individual.

15 Most basal cell carcinomas occur spontaneously with no precursor lesions.
16 Mimics of basal cell carcinoma include benign nevi, sebaceous hyperplasia, and
17 amelanotic melanoma. Diagnosis is confirmed by biopsy. Basal cell carcinoma is
18 treated by excision or Mohs Micrographic surgery with 90 to 99 percent cure rates.
19 Basal cell carcinoma is slow-growing and rarely metastasizes.

20 Cutaneous squamous cell carcinoma is the second most common skin cancer.
21 It presents as a scaly, this, erythematous lesion and also occurs commonly in sun-
22 exposed areas, but can develop anywhere. Squamous cell carcinoma originates from

1 epidermal carotenocytes. Again, you can see that it may present differently in patients
2 of different ethnicities and skin types.

3 Squamous cell carcinomas may begin as actinic keratoses, which are small,
4 white, scaly foci of roughness that arise on chronically sun-damaged areas. Actinic
5 keratoses are generally treated with liquid nitrogen or a topical drug without
6 confirmatory biopsy. A portion of actinic keratosis may progress to squamous cell
7 carcinoma. Diagnosis is confirmed by biopsy. Common mimics include: inflammatory
8 disorders, common warts, and inflamed benign lesions. Invasive squamous cell
9 carcinoma is surgically excised with 95 to 99 percent cure rates with Mohs Micrographic
10 surgery.

11 Compared to basal cell carcinoma, squamous cell carcinoma can have higher
12 rates of metastasis, ranging from 2 to 6 percent, especially for cancers in the 'H Zone',
13 which is the area demarcated by the ears and central face. Once metastasis occurs,
14 the five-year cure rate is 34 percent. Patients who are immunosuppressed are at high
15 risk for metastasis and squamous cell carcinoma-related mortality.

16 Melanoma arises from melanocytes, which are cells that produce pigment.
17 Melanoma can develop in nevi, particularly dysplastic nevi, however, 70 percent of
18 melanomas develop de novo on normal skin. Despite its association with ultraviolet
19 light and tendency to burn after sun exposure, melanoma can develop in both light and
20 dark skin and on any part of the body, including palms, soles, and under the nail.
21 Clinical assessments for melanoma include the ABCD rule, which stands for
22 asymmetry, order irregularity, color variation, and large diameter. The letters E and F

1 were added to include evolution and funny-looking. Evolution is any change in size,
2 shape, and/or color of the lesion over time. The 'ugly duckling sign' is also useful for
3 identifying lesions suspicious for melanoma, which refers to any lesion that stands out
4 as distinctly different from the rest of a patient's skin lesions.

5 The gold standard for diagnosis of melanoma is biopsy. Histological evaluation
6 is necessary to differentiate melanoma from other lesion that may mimic melanoma,
7 which include benign and dysplastic nevi, seborrheic keratosis, and pigmented basal
8 cell carcinoma. Histological examination also assesses the measured thickness of the
9 lesion, which guides treatment decisions, as well as the number of mitoses and the
10 presence of ulceration, scarring, and immune cells in the lesion, which are prognostic
11 factors. Definitive treatment of melanoma includes wide excision, with margins
12 proportional to the depth of the lesion. Patients may also require several lymph node
13 biopsies depending on the thickness of the tor. Melanoma has a high risk of metastasis
14 and death. Metastatic patients may require additional procedures or systemic
15 oncological therapies.

16 Cancer stage at time of melanoma diagnosis is critical in determining treatment
17 options and has a strong correlation to overall survival. The combined average
18 five-year survival rate of melanoma in the U.S. is 93.7 percent. In terms of cancer
19 stage, 82 percent of all melanoma cases are diagnosed as localized disease, while nine
20 percent of cases are diagnosed as regional disease, and four percent of cases are
21 diagnosed as distance disease, although this may vary depending on subtype.

1 The cancer stage at time of initial diagnosis has a big impact on survival. The
2 five-year relative survival for localized disease is 95 percent. This dramatically
3 decreases with later stages of diagnosis, as the five-year survival decreases to
4 70 percent for regional disease and nearly 30 percent for distant metastatic disease.
5 Therefore, early diagnosis is critical to reduce mortality.

6 The histological subtype of a melanoma is also an important prognostic factor
7 because different subtypes are associated with different prognosis. Some of the
8 subtypes are illustrated here, and as you can see, the subtypes have very different
9 clinical presentations in addition to differences in prognosis. Understanding the different
10 visual presentations of melanoma among all skin phenotypes and ethnicities
11 represented in the United States is fundamental to ensuring timely diagnosis and
12 effective treatment for the entire United States population.

13 It is important to understand a significant and nuanced aspect of assessing
14 pigmented lesions: they do not come in just two flavors, benign and malignant. Lesions
15 comprised of melanocytes exhibit a continuous spectrum of atypia. Some are
16 completely benign, some have mildly atypical or dysplastic features, some are
17 moderately or severely dysplastic, and some are frank melanomas. There are no clear
18 boundaries within this spectrum. The red box on this slide outlines what melanocytic
19 lesions would be considered clinically high-risk and appropriate to biopsy. For
20 borderline lesions, dermatopathologists must at times make a clinical judgment call of
21 whether to label a lesion as severely dysplastic or evolving melanoma in the severely
22 dysplastic nevis. When skin lesion analyzers are designed to classify a lesion in a

1 binary manner, as either benign or malignant, the manufacturer must decide, and the
2 user must know, whether dysplastic nevi are counted as positives: that is, a lesion that
3 should be referred or biopsied. Please consider this today when we discuss ground
4 truth, as well as sensitivity and specificity

5 This flowchart provides a typical workflow of how skin lesions suspicious for
6 melanoma or other skin cancers enter and navigate the healthcare system. When
7 patients identify a suspicious skin lesion, they may seek advice from their primary care
8 provider or from a dermatologist. While some primary care providers are comfortable
9 managing skin lesion diagnosis, including biopsy, others may refer the patient to a
10 dermatologist. If the provider, either a primary care physician or a dermatologist, feels
11 the lesion is benign, the patient will be reassured. If the lesion is likely but not definitely
12 benign, the patient may be asked to monitor and return for re-evaluation. If the lesion is
13 suspicious for any skin cancer, it will be biopsied. Though it is not shown here, some
14 primary care physicians refer patients directly for excision by plastic surgeons.

15 FDA has approved two artificial intelligence machine learning devices for
16 assessing pigmented lesions suspicious for melanoma, Melafind and Nevisense. These
17 devices are intended to be used as an adjunct by dermatologists to obtain additional
18 information to aid in a decision to biopsy for lesions suspicious for melanoma. You will
19 hear more about these later today. These two devices will also be the specific topic of
20 discussion at the second panel meeting tomorrow. There are currently no devices
21 cleared or approved for people other than dermatologists in this space.

1 Here, we provide an example of how different devices may be incorporated into
2 the clinical workflow. Different devices may be intended for different users, from the
3 patients themselves to providers with differing experience in identifying skin cancer.
4 Because of the varying levels of experience of these different intended users, there are
5 important considerations on device accuracy. In addition to different intended users,
6 skin lesion analyzers may have different indications. For example, some may be used
7 to assess only pigmented lesions, whereas others may be used for screening lesions at
8 home. Some devices will be used by patients with outlearned intermediaries, some
9 devices will provide a binary output such as benign versus malignant, others may
10 estimate the probability of a lesion being melanoma, and some devices may provide the
11 specific name diagnosis, for example, 'this lesion is a basal cell carcinoma'. These
12 considerations may also affect regulatory decisions; for example: should a device for
13 melanoma be more specific than a device for basal cell carcinoma?

14 In conclusion, early detection is important for all skin cancers, especially
15 melanoma, since early detection has a significant impact on survival. Skin lesion
16 analyzers are emerging as potential tools to assist in earlier triage of skin cancers;
17 however, there are many considerations for these new devices, such as the threshold
18 for sensitivity and specificity and the clinical impact of false negatives and false
19 positives. We thank you for your recommendations on these questions. Thank you for
20 your time and attention. Next will be Dr. Jianting Wang, who will share the landscape of
21 skin lesion diagnostics, specifically more on skin lesion analyzers.

1 DR. WANG: Good morning, my name is Jianting Wang. I'm a biomedical
2 engineer, Acting Assistant Director for Life-Based Energy Devices Team in the Office of
3 Surgical and Infection Control Devices. In my presentation today, I will provide an
4 overview of the skin lesion analyzer technologies, both currently marketed and in the
5 literature, to give you some background information on the landscape of skin lesion
6 assessment tools and analyzers that FDA regulates. In this presentation, we will go
7 over the technologies for evaluating skin lesions by the following type of technologies:
8 physical examination aids, optical imaging modalities, non-optical modalities, and
9 devices that apply software to analyze the data to provide lesion classification,
10 becoming skin lesion analyzers. These devices, depending on their functions, feature a
11 range of complexity levels, from simple devices that provide white light images, to more
12 complex technologies providing tissue microstructure images, measurement of other
13 physical properties, or analysis of measured data for detection of melanoma.

14 In the following slides, I will introduce some examples from each of these device
15 types. Dermatoscopes are frequently used devices for skin lesion examination and are
16 an example of low-risk devices that do not need FDA pre-market review. Many
17 dermatoscopes are available over-the-counter. Conventional dermatoscopes provide
18 white light illumination and magnification to provide better view of the lesions. Some
19 dermatoscopes support image capture and storage to provide images for a user to
20 assess. And nowadays, the microscope's attachments for smartphones are readily
21 available online for lay users to purchase, so patients can take images of skin lesions at
22 home and send thermoscopic images to their doctors with their smartphones.

1 Dermatoscopes do not analyze the images and they do not classify a lesion or assess
2 risks.

3 There are also advanced dermatoscopes that provide more functions than
4 conventional ones. These dermatoscopes are for prescription use, and unlike those just
5 discussed, they are subject to FDA pre-market review. Some of these dermatoscopes
6 use multi-spectral lights to obtain spectral information on the tissue in order to generate
7 maps of highlight areas with high melanin, hemoglobin, or collagen content. They only
8 provide a picture; they do not classify lesions or assess risks. In 2011, FDA approved
9 MelaFind, which is an optical, non-invasive device that uses multi-spectral lights to
10 image skin lesion at different light wavelengths. The images generated are analyzed by
11 a built-in artificial intelligence machine learning algorithm to analyze the spectral
12 information, calculate a risk score on a 10-point scale, and classify lesions in a binary
13 way. Scores above a preset threshold are called 'Melafind positive', which means high
14 degree of morphological disorganization, and are likely to be melanoma or high-grade
15 lesions. Lesions with scores that are lower than the preset threshold are called
16 'Melafind negative', which means low degree of morphological disorganization and low
17 likelihood of being melanoma or high-grade lesions. MelaFind is intended for use on
18 clinically atypical cutaneous pigmented lesions suspicious for melanoma, excluding
19 those with a clinical diagnosis of melanoma or likely melanoma. MelaFind is designed
20 to be used when a dermatologist chooses to obtain additional information for a decision
21 to biopsy. It should not be used to confirm a clinical diagnosis of melanoma.

1 In the past decades, a number of optical imaging modalities are emerging as
2 useful tools that can image tissue in real time with very high resolution. Some of these
3 optical imaging modalities have been cleared by FDA for general tissue imaging.
4 They're being studied to provide additional information to users to assess skin lesions,
5 but have not been marketed for the specific indication of skin lesion imaging and haven't
6 been widely used clinically due to the need for special equipment and training. An
7 example of these optical imaging modalities is reflectance confocal microscopy, known
8 as RCM. RCM can provide 2D image of tissue cell architecture. As shown here, its
9 penetration depth is typically within 100 micron. Meta-analysis of literature data has
10 reported dermatologists' performance in detecting skin cancer, but reviewing RCM
11 images, as shown in this table, with sensitivity over 90 for basal cell carcinoma in
12 melanoma and lower specificity.

13 Another example of optical imaging technologies is Optical Coherence
14 Tomography, known as OCT. OCT produces a visual image similar to ultrasound, but
15 instead of sound waves, OCT uses near-infrared laser as the energy source to
16 produce much higher resolution but shallower penetration, typically within a few
17 millimeters. The example image here shows a cross-section image of basal cell
18 carcinoma. A systemic review assessed reported dermatologists' performance with
19 OCT in detecting skin cancer and estimated the sensitivity of OCT for identification of
20 basal cell carcinoma at 95 percent and specificity at 77 percent.

21 In addition to optical imaging technologies, there are other physical
22 characteristics measured to assess skin lesions. Navisense, approved by the FDA in

1 2017, is an example of a device which measures electrical impedance of skin lesions
2 and provides an output called the electrical impedance spectroscopy score or EIS
3 score. Electrical impedance is a measure of a material's overall resistance to the flow of
4 alternating electric currents of various frequencies. The principle is that electrical
5 impedance is different in normal versus abnormal tissue. By sampling both normal skin
6 and lesion, the device provides a score to indicate where the score falls on that
7 spectrum of normal and malignant, and thus the possibility of melanoma.

8 Some examples of other modalities which have been reported in the literature
9 include high frequency ultrasounds. High frequency ultrasound provides cross-sectional
10 images of skin where lesions can be visualized. A meta-analysis of studies evaluating
11 the accuracy of high frequency ultrasounds to assess lesions suspicious for melanoma
12 basal cell carcinoma or squamous cell carcinoma compared to reference standard of
13 histological confirmation or clinical follow-up derived sensitivities with the device were
14 83 percent with variable specificities ranging from 33 percent to 73 percent. Raman
15 spectroscopy is another emerging technology reported in the literature. Raman
16 spectroscopy measures light shifts induced by molecules in the tissue. It typically
17 provides a spectral signal, as shown on the slides. As you can tell, this data usually
18 needs to be interpreted by software. These technologies are under development; no
19 devices have been approved by the FDA for skin lesion analysis.

20 Many of the aforementioned devices provide images or measurements for the
21 users to interpret. They are not skin lesion analyzers unless they are equipped with
22 skin lesion analyzer software. Skin lesion analyzer software can be built in with the

1 device, such as in metal files or Navisense, or it can be a stand-alone software, known
2 as software. As a medical device, the software inputs may include skin lesion images
3 from certain imaging modalities, such as dermatoscopes, multispectral imaging,
4 confocal spectroscopy OCT, or some even used photos taken by smartphone cameras.
5 Some skin lesion analyzers use other physical parameter inputs, such as
6 aforementioned electrical impedance or Raman spectra. Some software also considers
7 other supporting clinical data, such as a patient's skin type, age, gender, history of
8 lesions. After analyzing the input information, the software outputs its assessments and
9 various forms to provide adjunct information to the users. Some devices provide binary
10 classification; for example: concerning or not. Some classify lesions into multiple
11 classes, such as lesion type or risk level of low, moderate, or high. Some may provide
12 a risk score in different scales. The intended users of these skin lesion analyzers also
13 vary. Some may be for dermatologists to help making decisions on biopsy, some may
14 be for a primary care physicians to make decisions on referral, and some software,
15 most often smartphone apps, may be for laypersons to assess their own visions and
16 decide whether to see a doctor.

17 To understand the performance of these skin lesion analyzers, it is important to
18 know how they are developed. The software is typically based on artificial intelligence
19 or machine learning technology. The development of the core algorithm is based on
20 treating the algorithm with a set of lesion data, such as lesion images, with known
21 ground tools. The algorithm learns the correlation between the image or data features
22 in the ground tool. The algorithm is then tuned, locked, and then tested with a new set

1 of unlabeled data to characterize the performance and establish sensitivity and
2 specificity. It should be noted that for diagnostic devices, the sensitivity and specificity
3 are a pair of trade-off performance characteristics that depend on the selection of
4 diagnostic thresholds. If you set the threshold for positive results very low, you would
5 have higher rates of true positives, but also a higher rate of false positives, which
6 means higher sensitivity but lower specificity. If the diagnostic threshold is set very
7 high, then the results will be more specific, but the sensitivity will be lower. Therefore,
8 once the sensitivity is preset the specificity will follow. Given how the skin lesion
9 analyzers are developed, there are potential limitations and sources of bias that should
10 be considered during device evaluation. The performance of skin lesion analyzers
11 largely relies on the training data sites. The data sets used for training may have limited
12 skin photo type lesion types and diagnosis lesion severity, which may generate bias in
13 the software. Therefore, the accuracy may not be generalizable to all population or
14 lesion types. Therefore, this will be important for discussion of which population the
15 device is intended to serve.

16 In summary, I have presented to you the expansive landscape of skin lesion
17 assessment and analysis devices, with examples of technologies that are either
18 approved or being studied and reported in literature. You can see that there is a wide
19 range of technologies being developed for skin lesion analysis using various optical
20 imaging modalities or non-imaging technologies with artificial intelligence machine
21 learning algorithms, and these devices' use is not limited to dermatologists. Various
22 devices are being developed for use by primary care physicians or laypersons. These

1 devices give different types of outputs, such as risk evaluation and action
2 recommendation. These rapidly advancing technologies, with their wide range of
3 features, bring potential to improve skin cancer diagnosis. They also pose questions to
4 regulatory approaches how these technologies should be regulated to assure patient
5 benefits and adequate mitigation of risks. In the following presentations today, you will
6 hear more about diagnostic accuracy of these devices, considerations on benefits and
7 risks. Before we enter the discussion session, the next presentation will be by Dr.
8 Henry Lee, who will present special considerations for diagnostic accuracy and ground
9 truth. Thank you for your attention.

10 DR. LEE: Good morning my name is Henry Lee, and I am an oculoplastic
11 surgeon and Medical Officer in the Office of Surgical and Infection Control Devices.
12 Today, I'll discuss the diagnostic accuracy of healthcare providers for the diagnosis of
13 skin malignancies, as well as the options for determination of ground truth for skin
14 lesions. In today's meeting, the panel members will be asked to comment on accuracy
15 goals for skin lesion analyzers. In order to aid in the determination of appropriate goals,
16 it is important to consider what the current state is for diagnostic accuracy – a review of
17 the range of sensitivities and specificities for diagnosing skin lesions by various
18 healthcare providers, including dermatologists and primary care physicians, will
19 establish their baseline accuracy. This information may then aid decisions regarding
20 minimal accuracy goals for skin lesion analyzers to ensure that the devices provide a
21 public health benefit. In addition, a review of the diagnostic accuracy of dermatitic
22 pathologists will be provided, thereby establishing a baseline accuracy level for

1 histopathology, which has long been considered the gold standard for ground truth. The
2 review of the accuracy of the gold standard will provide additional contacts to the Panel
3 when determining if alternative methods of ground truth are acceptable in specific
4 situations.

5 When evaluating skin lesion analyzers, a variety of performance benchmarks
6 may be considered. The overall accuracy of the device, for example. Its sensitivity and
7 specificity for the detection of melanoma can be compared to performance goals such
8 as pre-defined sensitivity and specificity thresholds to identify the prevailing sensitivity
9 and specificity of various healthcare providers. A literature search was completed in
10 order to provide the panel of context for how predefined sensitivity and specificity goals
11 may be established. Alternatively, the performance of the device could be directly
12 compared to that of different providers, such as dermatologists or primary care
13 providers. This could be used to benchmark performance level for devices used by a
14 particular provider. For example, it may be reasonable in some clinical trials to assess
15 whether a device intended to be used by primary care providers provided accuracy that
16 was comparable to the dermatologist assessing the same lesions. In the study, this
17 device could then, for example, be used by primary care physicians. Finally, the
18 device's ability to improve the performance of the user may also be considered as an
19 acceptable potential comparator for the evaluation of the benefit and risk of the device.
20 This is measured by assessing the user's accuracy before seeing the device output and
21 then again after seeing the device output.

1 In what is called a reader study, findings from a systematic review by the
2 Cochran Skin Cancer Diagnostic Test Accuracy Group in 2018 are presented on this
3 slide. The aim of this systematic review was to determine the diagnostic accuracy of
4 physicians with experience with dermoscopy for the diagnosis of melanoma in
5 comparison to visual inspection of the skin with the naked eye. The review
6 encompassed a total of 104 publications, from which 39 data sets were identified. The
7 review found that the sensitivity and specificity of visual inspection for the diagnosis of
8 melanoma were 76 and 75 percent, respectively. With dermoscopy, the sensitivity and
9 specificity increased to 92 and 95 respectively. This indicates that physicians with
10 training in dermoscopy have high sensitivity and specificity for the detection of
11 melanoma.

12 In a separate systematic review in 2018 by the Cochrane Skin Cancer Diagnostic
13 Test Accuracy Group, the accuracy of tele-dermatology for the diagnosis of either
14 benign versus malignant lesions, or for the diagnosis of melanoma, was performed.
15 Tele-dermatology in particular has increased in popularity since the advent of the digital
16 camera and the smartphone. The COVID-19 pandemic has also increased the use of
17 telehealth, including tele-dermatology, by both primary care providers and lay people.
18 Therefore, evaluation of tele-dermatology is important to provide further context on the
19 present-day accuracy of providers in diagnosing skin cancer.

20 The rise of tele-dermatology has indicated that there are still challenges to
21 access, and skin lesion analyzers may help to address the medical need in the general
22 U.S. population. This Cochrane review encompassed a total of 22 studies; the review

1 found that tele-dermatology with photographs only can yield high sensitivity and
2 specificity for determining whether a lesion is malignant versus benign with a sensitivity
3 of 95 and a specificity of 84. This systematic review also evaluated tele-dermatology
4 and its sensitivity and specificity for diagnosing melanoma. The systematic review
5 showed that there is greater variability and sensitivity and specificity for specific
6 diagnosis of melanoma than for binary classification of whether a lesion is benign or
7 malignant. This may impact the Panel's deliberations regarding performance goals for
8 skin lesion analyzers, which may have different indications for use; for example: to
9 diagnosis of specific lesions such as melanoma, or to simply classify the lesion as
10 benign versus malignant. We sought to assess layperson's ability to self-diagnose skin
11 lesions; however, there are not adequate studies assessing the performance of
12 laypersons in the United States for regulatory purposes. We asse that laypeople have
13 little or no diagnostic ability, and that they would likely rely on the output of a skin lesion
14 analyzer at face value. Currently, there are no FDA cleared or approved skin lesion
15 analyzers for laypersons.

16 We also sought to compare the relative sensitivity and specificity of
17 dermatologists to those of primary care providers in order to benchmark current clinical
18 accuracy for those providers. As seen in this table, there are wide ranges of
19 sensitivities and specificities for both dermatologists and primary care providers. The
20 overall performance of an individual provider may be dependent on their training
21 experience and geographic location among other variables. While there is considerable
22 overlap between the ranges for sensitivity and specificity for dermatologists and primary

1 care providers for a variety of skin lesions, less variability was noted for dermatologists.
2 For example, for the diagnosis of melanoma, a smaller range of 67 to 100 percent has
3 to be reported for sensitivity for dermatologists; whereas a larger, more variable range
4 of about 30 to 98 percent is reported for primary care providers. Similar findings are
5 reported for other lesion types such as basal cell carcinoma where sensitivity and
6 specificity are in the mid to upper-90 percentages for dermatologists, but lower or more
7 variable sensitivities and specificities are reported for primary care providers. Overall,
8 dermatologists appear to have higher and/or more consistent sensitivity and specificity
9 for skin malignancies, whereas greater variability is seen with primary care physicians.

10 We will also discuss ground truth. We define ground truth as the means by
11 which the true diagnosis of a lesion is obtained. A skin lesion analyzer output will be
12 considered correct if it provides the same diagnosis as the one identified by the ground
13 truth test. We will ask the panel about which tests could be appropriate for obtaining
14 ground truth. We then define sensitivity and specificity or accuracy as the percent of
15 lesions that the device identified correctly relative to whichever ground truth approach
16 was accepted for the clinical study. There are several options for establishing ground
17 truth. Histopathology has traditionally been the diagnostic benchmark for skin lesions
18 and is therefore commonly utilized as the ground truth in clinical studies. For skin lesion
19 analyzer studies, one option for ground truth could be the histological diagnosis of a
20 lesion as reported by a single pathologist or as a consensus of a panel of pathologists.
21 For example, in a pivotal study for MelaFind, a central histopathology lab was utilized,
22 and each specimen was evaluated by at least two dermatopathologists. Alternatives to

1 histopathology have included: a clinical diagnosis made by specialists, such as a
2 dermatologist consensus, diagnosis by panel of dermatologists, or a confirmed benign
3 diagnosis as evidenced by long-term follow-up over a period of months. A hybrid
4 approach, where a histopathologic diagnosis is needed for suspicious lesions, whereas
5 a clinical diagnosis or clinical follow-up is sufficient for benign-appearing lesions, may
6 also be considered. This alternative has been used in reported studies for benign-
7 appearing lesions for which a histological diagnosis is not available because these
8 lesions would not normally undergo biopsy in clinical practice. For example, in the
9 pivotal study for MelaFind, the protocol allowed for the use of clinical follow-up for three
10 months as a means of determining the ground truth for non-suspicious lesions.

11 However, there are published studies that use the clinical diagnosis by a dermatologist
12 or panel of dermatologists as the ground truth even for suspicious appearing lesions.

13 In order to aid the Panel in assessing acceptable methodologies for determining
14 the ground truth, we evaluated the accuracy of the dermatopathologists for assessing
15 melanocytic lesions. The overall accuracy of this gold standard for diagnosis may be
16 considered when discussing whether alternatives to histopathology may be acceptable
17 in specific situations. In 2012, Braun et al. utilized the
18 MelaFind pivotal study data. All lesions that were biopsied during the clinical study
19 were sent for independent evaluation by four dermatopathologists in order to determine
20 the inter-observer variability of dermatologists in diagnosing tissue specimens from
21 clinically difficult melanocytic lesions. A total of about 1,250 pigmented melanocytic

1 lesions were included. The agreement among expert dermatopathologists was
2 measured via calculation of the kappa value, which was
3 0.80. The kappa value is a statistical measurement of reliability, and a kappa of 0.61 to
4 0.8 represents substantial agreement. In 2017, Braun et al. also reported the accuracy
5 of dermatopathologists for an international study for the Nevisense electrical impedance
6 spectroscopy device. A total of five U.S. and 17 European sites and 1900 lesions were
7 included. All lesions were biopsied and evaluated by a local dermatopathologist. In
8 addition, the pathology slides were also reviewed by a panel of three experienced
9 dermatopathologists who are blind to the local dermatopathologist's diagnosis. The
10 sensitivity and specificity of local dermatopathologists were evaluated and compared to
11 the ground truth, which was defined as the consensus diagnosis of the panel of three
12 experienced dermatopathologists. The local dermal pathologists were found to have a
13 sensitivity of 84.9 and a specificity of 98.1 for melanoma.

14 In conclusion, the literature reports a wide range of sensitivities and specificities
15 for both binary lesion classification, i.e. benign versus malignant, and for specific
16 diagnosis of the lesion for both dermatologists and primary care providers.
17 Dermatologists and experienced dermoscopists were found to have higher and/or more
18 consistent sensitivities and specificities overall, including a sensitivity of 92 percent and
19 a specificity of 95 via dermoscopic examination.

20 The Panel will be asked to discuss acceptable performance goals for each user:
21 layperson, primary care provider, and dermatologist. These performance goals may
22 vary depending on the specific malignancy; for example: melanoma versus squamous

1 cell carcinoma versus basal cell carcinoma. The performance goals may also be
2 different for a device that provides binary classification; for example: 'benign' versus
3 'malignant' or 'biopsy' versus 'do no biopsy.' The performance goals may consist of
4 predefined sensitivity and specificity goals in direct comparison to specialists' such as
5 dermatologists or the device's ability to improve user performance. And, finally,
6 histopathology has long been considered the gold standard for determining the ground
7 truth diagnosis. The sensitivity and specificity of histopathologists for diagnosis of skin
8 lesions such as melanoma may vary depending on the skill and experience of the
9 pathologist. In one study, dermatopathologist sensitivity for melanoma was
10 approximately 85 and the specificity was 98, which is comparable to the performance of
11 experienced dermatopathologists. Therefore, the Panel will be asked to consider if
12 alternatives to biopsy, such as follow-up examinations to confirm a diagnosis, the
13 clinical diagnosis by specialists, or the consensus clinical diagnosis of a panel of
14 specialists are acceptable in specific situations such as for benign-appearing lesions.
15 Thank you very much for your attention. Next, Scott Kominsky will present special
16 considerations with respect to skin lesion analyzers, including a benefit risk assessment
17 and skin cancer prevalence.

18 DR. KOMINSKY: Good morning, my name is Scott Kominsky, and I am a
19 biologist lead reviewer in the Cancer Diagnosis and Treatment Team within the Office of
20 Surgical and Infection Control Devices. This morning, I'm going to be sharing some
21 information regarding special considerations with respect to skin lesion analyzers.

1 The first consideration I would like to discuss is that of benefit-risk. A balanced
2 consideration of probable benefits and probable risks is an essential part of FDA's
3 determination that there are reasonable assurances of medical device safety and
4 effectiveness. Benefit-risk assessment takes into account not only evidence of device
5 safety and effectiveness but many other factors as well, including the nature and
6 severity of the condition the device is intended to treat, or, in the case of SLA devices,
7 to detect the benefits and risks of alternatives for diagnosing the condition and any risk
8 management tools that might be necessary to ensure that the benefits of the device
9 outweigh its risks. Here, we provide a general benefit-risk assessment for SLA devices.
10 Benefits include: greater access to diagnostic information, earlier testing and diagnosis,
11 and enhanced assessment as an additional tool, aiding healthcare providers with
12 accurate detection, especially with borderline lesions. These benefits may result in
13 improved disease outcome in the case of malignant lesions and a reduction in
14 performance of unnecessary procedures in the case of non-malignant lesions.

15 There are also several notable risks due to false positive results. Use of SLA
16 devices may lead to increased health care utilization and performance of unnecessary
17 skin lesion biopsies, which carry risks of scarring pain and infection. Another risk is
18 delay in diagnosis due to false negative results, which may result in poor disease
19 outcome. Lastly, SLA devices may have poor positive predictive value when
20 prevalence of skin cancer is low in a given population. Positive predictive value
21 provides insight into how accurate a positive test result is expected to be, representing
22 the proportion of true positive tests out of all positive test results, taking into account test

1 accuracy and existing disease prevalence. As the prevalence of skin cancer decreases
2 in a given population, that is, true positives are less common; the likelihood of a false
3 positive result increases

4 The risks associated with SLA device use may be mitigated in part through the
5 performance threshold required for these devices, which the Panel will be asked to
6 comment on later today. One possible level of performance for SLA devices is shown
7 here using the metric of sensitivity and specificity. As you heard earlier from Dr. Wang,
8 sensitivity and specificity are a pair of trade-off performance characteristics; that is,
9 setting a higher device sensitivity typically comes at the cost of lower device specificity,
10 and vice versa, as noted by the green line in the displayed graph. A higher device
11 sensitivity will result in a higher detection of malignant skin lesions, leading to earlier
12 diagnosis and improved disease outcome, while a higher device specificity will result in
13 fewer unnecessary biopsy procedures, translating to reduced strain on health care
14 resources.

15 When optimizing levels of sensitivity and specificity, factors that may alter the
16 balance of device benefits and risks should be considered. One such factor is the
17 target diagnosis in the setting of cancer diagnosis. The risk of a false negative error has
18 more severe consequences than a false positive error, thus sensitivity may be the more
19 important parameter in this setting. In addition, due to its higher mortality rate, a false
20 negative error may be of even greater severity in the case of melanoma as compared to
21 basal cell carcinoma and squamous cell carcinoma. These considerations suggest that

1 different thresholds of sensitivity and specificity may be appropriate for different target
2 diagnoses.

3 Another factor is the SLA user. It has been reported that primary care providers
4 assess and treat a large portion of dermatological conditions. In practice, with less
5 experience evaluating skin lesions, it is expected that non-dermatologist health care
6 providers may have greater reliance on SLA results when making the decision of
7 whether to refer a patient for further evaluation and potential skin lesion biopsy. It is
8 anticipated that laypersons will have even greater reliance on SLA results, since they
9 are not expected to have diagnostic skills. Given the differences in diagnostic accuracy
10 among different healthcare providers when diagnosing skin lesions, as noted earlier by
11 Dr. Lee, and the anticipated lack of diagnostic skills expected of laypersons, different
12 thresholds of sensitivity and specificity may also be appropriate for different users.

13 The second consideration I would like to discuss is that of disease prevalence.
14 Skin cancer is more prevalent in certain populations; for example, non-Hispanic white
15 individuals, due to their lighter skin tone. As such, the skin lesion datasets currently
16 used for SLA device training and testing are not anticipated to contain an even
17 proportion of skin cancer lesions occurring in both high- and low-prevalence
18 populations. The underrepresentation of skin cancer lesions from low-prevalence
19 populations – those with brown and black skin tones – could affect the generalizability,
20 or in other words, the accuracy with which results can be transferred to those
21 populations. Later today, the panel will be asked to comment on approaches towards
22 addressing this issue.

1 One potential approach would be to require that SLA devices be trained and
2 tested using data sets having an equal representation of skin cancer lesions occurring
3 in both high- and low-prevalence populations. However, the length of time required to
4 accrue data from skin cancer lesions in low-prevalence populations may result in a
5 significant delay of device access to those at highest risk. A second option would be to
6 employ a stepwise approach, wherein training and testing using datasets from high-
7 prevalence populations is initially permitted, followed by training and testing using
8 datasets from low-prevalence populations. This approach would allow earlier device
9 access to those at highest risk. However, prior to device training and testing, using data
10 sets from low-prevalence populations, it may also increase the risk of false positive and
11 false negative results when used in these populations, since devices trained and tested
12 on those with lighter skin tones may not perform with the same accuracy in those with
13 darker skin tones.

14 In summary, towards regulation of SLA devices: it is critical that benefits and
15 risks of device use be weighed. While several benefits have been noted, there are also
16 notable risks which may differ based on various factors including but not limited to the
17 device user and target diagnosis. Such factors should, therefore, be considered when
18 establishing adequate performance thresholds used towards balancing the benefit and
19 risk of SLA device use. Additionally, the issue of disease prevalence should be
20 considered, which may impact diagnostic accuracy as well as device access within the
21 U.S. population. I thank you for your attention.

22

1 QUESTIONS FROM THE PANEL

2

3 DR. HARRIS: Are there any clarifying questions from the Panel members? Dr.
4 Suarez-Almazor?

5 DR. SUAREZ-ALMAZOR: Yes, thank you. I was wondering if there is any data
6 on current utilization of some of these devices by different providers.

7 DR. CHEN: Can you repeat that question again?

8 DR. SUAREZ-ALMAZOR: Yes, I was wondering if there is any data on current
9 utilization of these devices by different providers. I mean, how often are they used?
10 Are they well accepted by the providers? Just to get a general idea of the use of the
11 available devices right now.

12 DR. CHEN: Okay, let me run it through our team and see whether we have any
13 data to respond to your question.

14 DR. ASHAR: Dr. Chen, if I could just provide a comment: as you're uh
15 considering who you might call on from your team, just to clarify for the panel analyzers,
16 that what we're discussing today, in general, are not currently marketed devices. There
17 are only two devices that are currently marketed, and those will be the topic for
18 discussion tomorrow. So essentially what we're trying to do is develop a framework by
19 which we may be able to evaluate skin lesion analyzers, which we are defining as those
20 devices that are not currently marketed.

21 DR. SUAREZ-ALMAZOR: Thank you, yeah, I understand that. I was just
22 wondering, in general, if this were – I mean not the ones that are not marketed – but

1 whether the use of devices like dermoscopes or, you know, some of the ones that are
2 available were being used currently and they had good acceptance.

3 DR. ASHAR: It's my understanding that dermoscopes are in widespread use
4 day-to-day, and we anticipate as these skin lesion analyzers do come to the market,
5 that they will also be used among a variety of individuals. Dr. Chen, do you have
6 anything more that you would like to add?

7 DR. CHEN: Yeah, thank you for the additional comments. We don't have any
8 additional items to cover. We got into those two devices, already been approved.
9 Additional information will be provided in tomorrow's presentation.

10 DR. HARRIS: Okay, we'll take the next clarifying question from Dr. Farber.

11 DR. FARBER: Neil Farber – I guess this would be for Dr. Kominsky: I was
12 wondering if you have considered, in the risk-benefit assessment, the risk to the patient
13 in using — either the patient themselves using it or especially non-dermatologic users
14 using SLAs, and the psychological risk to the patient of a false positive... has that been
15 considered and looked at?

16 DR. CHEN: I'm going to turn it to Scott.

17 DR. KOMINSKY: Yes, thank you for that very good question. It was something
18 that was considered, but I would defer to our Medical Officers for further information on
19 that.

20 DR. ASHER: If I could clarify, the purpose of this panel is to get — the team has put
21 together a good understanding of some of the issues that we would like for the panel to
22 deliberate on and to think about, and so if you have specific recommendations around

1 the benefit-risk pertaining to these devices and recommendations for FDA on how we
2 may consider the psychological effects that would be very helpful, especially if you have
3 any testing suggestions or advice on how manufacturers may consider the benefit-risk
4 associated with these devices.

5 DR. FARBER: That would be during the discussion section to recommend those
6 types of things...

7 DR. CHEN: That certainly would be appropriate.

8 DR. HARRIS: Next question.

9 MS. HESSER: Deneen Hesser, the Patient Representative. In doing your
10 literature search reviews, was the FDA able to identify any patient preference studies in
11 SLAs? Was patient perspective collected in any clinical trials that supported the two
12 SLAs? Thank you.

13 DR. HARRIS: Anyone able to respond in.

14 DR. ASHAR: Dr. Chen, would you like to take that? ... With respect to patient
15 preference, we would appreciate suggestions on how to consider that in our review in
16 skin lesion analyzers. Tomorrow you will hear in more detail how FDA reviewed the
17 data pertaining to the two PMA-approved devices.

18 MS. HESSER: Thank you.

19 DR. HARRIS: The next question is from Dr. Skates.

20 DR. SKATES: Hi, Steven Skates. Thank you very much for the great
21 presentations. I'm keen to actually as a statistician, quantify the benefit to risk ratio so
22 that we can then say, "Does adding the device increase that benefit to risk ratio so that

1 it is effectively safe, it doesn't make the situation for the patient any worse?" So the
2 benefit to risk is, or the benefits, are due to the true positives of finding melanoma, for
3 example if that's the aim, and the true negatives, which is saying that the patient doesn't
4 have melanoma, if they don't have it, divided the false positives and the false negatives.
5 And the false negatives are the big concern. If you say that patient doesn't have
6 melanoma when they do, that's a huge problem. The false positives are less so, and
7 we need to make some judgment about what the relative trade-off between those two
8 false pieces of information are. In the MelaFind study, there was a ratio of about two
9 false negatives by the dermatologist to about 1400 false positives by the dermatologist,
10 and that's a ratio of about 700, so in working out what that benefit to risk ratio is, I
11 weight the true positive – the melanoma the true finding, the melanoma – seven
12 hundred times greater than the false negative, the true negative. So that that would be
13 then comparable to what a dermatologist could do. So my push here is instead of
14 looking at specificity to for safety look at the benefit-to-risk ratio with a weighted false
15 positive and false negative and true positive and true negative, and make that
16 comparable to what the dermatologists can use, and then work out what the specificity
17 needs to be in the target population. The target population isn't all people in a specific
18 group, it's all people with a lesion, and then you need to work out what the incidence of
19 melanoma in that population with a lesion is. And that is different from the incidence
20 when you divide it by all people in that population, so those two considerations, I think,
21 should be factored into the FDA's regulatory considerations.

1 DR. HARRIS: I would just like to encourage the panelists that right now we're
2 actually seeking any clarifying questions that could come from the prior presenters. I
3 think the good points that were just made will be best discussed during the actual
4 deliberation portion of our meeting.

5 DR. SKATES: I was trying to address the benefit-to-risk assessment from Dr.
6 Kominsky and clarify that needs to be quantified.

7 DR. HARRIS: Is there a question that you would like Dr. Kominsky to respond?

8 DR. SKATES: Is making a benefit to risk quantification something the FDA would
9 consider? Because I would encourage that.

10 DR. KOMINSKY: You made an excellent point, and it's definitely something we
11 would consider and look forward to your comments on.

12 DR. HARRIS: Next question.

13 DR. BOURELLY: BO Paula Bourelly, M.D. Private practice. In Dr. Lee's
14 presentation, there was a slide titled "Accuracy of Telederm" and showed that the
15 sensitivity and specificity were much higher when we were simply asking by binary
16 question, "Is this benign or malignant?" compared with whether this is melanoma. My
17 question is, for benign versus malignant, is that for all comers, basal cells, squamous
18 cells, and melanoma, or was that just for melanocytic lesions? Thank you.

19 DR. ASHAR: My understanding is that it is all comers, but let me confirm this
20 with Dr. Lee. Is that accurate?

21 DR. LEE: That is accurate.

22 DR. HARRIS: Next question from Dr. Alam.

1 DR. ALAM: A comment was made by FDA indicating that for benign lesions,
2 non-invasive following of these lesions is sometimes considered to be acceptable in lieu
3 of histopathology. I was wondering if you could clarify what you mean by benign
4 lesions. I guess what I'm confused about is: why would you want to follow a benign
5 lesion at all, unless you are worried it is a suspicious lesion or at least a lesion that's
6 borderline suspicious? Could you clarify what you mean by that? As a dermatologist, I
7 am not familiar with this idea of following them clinically and not biopsying them if you're
8 considering them to be suspicious, and I'm also not familiar with the idea of following a
9 benign lesion in any way if you're not worried about it being suspicious.

10 DR. ASHAR: The team had described both a test data set and a training data
11 set. The training data set would be the basis by which the algorithm is created, and
12 then the test data set would essentially test the algorithm for clinical trial purposes. We
13 would have incoming patients they would have lesions. Under normal practice, some
14 lesions would be biopsied, but then there would be a cohort of patients for which no
15 biopsy would be necessary. And the question there is, is it appropriate to biopsy a
16 lesion where the provider or the dermatologist felt that a biopsy would not be clinically
17 indicated, and so for that reason, in creation of the training data set and subsequently in
18 the test data set, that's what I think the team is referring to with the following of benign
19 lesions for the purposes of developing uh the device. Hopefully that clarifies.

20 DR. ALAM: So this is something that was done in the study for study follow-up
21 purposes and not necessarily reflective of what would be done clinically.

22 DR. ASHAR: Yes.

1 DR. ALAM: Thank you.

2 DR. HARRIS: Next question from Dr. Gualtieri.

3 DR. GUALTIERI: Thank you. Lisa Gualtieri. I was interested in the required or
4 optional training that came with these devices, the impact on the accuracy.

5 DR. HARRIS: Can any presenters address that question?

6 DR. CHEN: There are already two approved devices, and they were approved for
7 dermatologic use. We have seen a new trend of applications, mainly intended for other
8 providers and late persons. I believe, well now, those devices had been cleared or
9 approved yet. But the human factors would be one of the important factors when we
10 consider for those OTC uses. When training, in terms of, how easy it can be used.
11 How the decision can be integrated to the workflow. That will be one of the factors that
12 we will consider for particularly OTC BAR devices.

13 DR. HARRIS: Does that answer your question, Dr. Gualtieri?

14 DR. GUALTIERI: Yes. It does.

15 DR. HARRIS: Next question from Dr. McGrath.

16 DR MCGRATH: I was struck in our reading materials by the fact that diagnostic
17 SLAs, while not available in the US, are being marketed in Australia and New Zealand,
18 and also in some places in Europe. I guess that is not surprising with the presence of
19 skin carcinoma in Australia and New Zealand. But I'm wondering if there is anything we
20 can learn from that experience, if the FDA has any information on that... Namely, in
21 Australia and NZ: Are these devices available for lay people? What's been the

1 outcome of that in those areas? And I'm just curious: where in Europe? I think that
2 would be helpful to know also. Thank you.

3 DR. WANG: I can take this question. We know there are a few smartphone
4 apps for melanoma detection available in Europe and Australia, but there is a difference
5 in regulation for these devices in Europe. I think those apps are city-marked, so it's not
6 based on the performance ... for those devices, in Europe, they don't require
7 performance testing. As long as they're safe, they're city-marked, so it's not based on
8 performance. So there is also a major concern over that many literature has reported
9 the performance of those apps are not very good. Here for those devices, of course,
10 performance will be needed, and that's what we can discuss today and tomorrow.

11 DR ASHAR: Thank you, Dr. McGrath, it's an excellent recommendation and we
12 appreciate that advice.

13 DR. HARRIS: There's no information regarding the usage of these devices by
14 the lay public in Australia or New Zealand?

15 DR. WANG: There is data reported in literature; some apps show good
16 performance, but there are also a lot of concerns about those studies. I won't go
17 through the details here, but there are literature reviews available.

18 DR. HARRIS: Thank you. Next question from Dr. Rotenberg.

19 DR. ROTENBERG: This is Dr. Rotenberg. Would it be okay to answer a
20 question from a patient advocate? Based on my own knowledge of the literature.

21 DR. HARRIS: Sure.

1 DR. ROTENBERG: There is one study that interviewed patients about their
2 opinions about SLA devices. Tt is a very small study, it was outside of clinical trials,
3 something like 48 patients, and there was enthusiasm for certain aspects of the devices.
4 For example, more rapid assessment of lesions rather than waiting to see a
5 dermatologist. It's very concordant with what the FDA has presented today in terms of
6 the potential benefits and the potential risks. So there is one study related to that.

7 DR. BRUMMERT: If I may ask, did it indicate where the risk accessibility was for
8 them. What were those patients comfortable with in terms of accepting risk?

9 DR. ROTENBERG: It did not quantify that. It was a very small, qualitative study
10 that came out of Boston and it was a very small group of patients, but overall there were
11 certain benefits that were specifically around early diagnosis or early access to
12 dermatologist level care and concerns about accuracy and adjudication of the accuracy.
13 Those were the main takeaways that I had from that paper.

14 DR. BRUMMERT: I appreciate you sharing that, thank you.

15 DR. CHEN: That is a great study Dr. Rotenberg just mentioned. Also, we have
16 seen, in general digital health has been the the driving factor for a lot of innovations.
17 We have seen that laypersons have been increasingly relying on mobile-based apps,
18 for at least initial house inquiries. In general, we have seen that trend in the past
19 decade and through a lot of reported literature seeking early detections are one of those
20 factors.

21 DR. HARRIS: Next question from Dr. Skelsey.

1 DR. SKELSEY: Thank you, this is Maral Skelsey. I had a question for Dr. Lee
2 regarding the diagnostic accuracy as dermatologists versus primary care. Were those
3 physicians using dermoscopy in previous analysis of the comparison of dermoscopy
4 versus non-dermoscopy, and do you have that same accuracy data using the prior
5 analyzers that have been approved? I know we're discussing tomorrow Melafind and
6 Navisense, but since Melafind has been approved for over ten years now, do you have
7 that same kind of accuracy data?

8 DR. LEE: With regards to that larger table that was presented comparing
9 dermatologists versus primary care providers... that came from a variety of different
10 studies. It was a mixture of clinical information, so some studies had dermoscopy.
11 Some studies did not and only had clinical exam findings and/or photographs. It was
12 not only accuracy with dermoscopy. With regards to the performance or
13 sensitivity/specificity of providers when using one of the approved skin lesion analyzers,
14 we will go through that in significant detail tomorrow. That being said the clinical study
15 for, let's say Melafind, as referenced, had very high specificity in the 90's, as compared
16 to very low sensitivity. We've found that in clinical use, or in these studies, that the
17 sensitivity continues to remain high and does seem to increase provider sensitivity, but
18 it may either positively or negatively affect provider sensitivity, depending on the study.

19 DR. SKELSEY: And those are real world data, or is that the original approval
20 studies?

21 DR. LEE: In the original approval sensitivity 90s specificity around 10 um and
22 then there's real world use where it showed that the dermatologist sensitivity uh

1 increase and specificity again the banana study either increase or decrease. But that's
2 in the real world.

3 DR. SKELSEY: Thank you.

4 DR. HARRIS: Next question from Ms. Block.

5 MS. BLOCK: Good morning, my name is Renata Block. Thank you for the
6 wonderful presentation and for having me on this panel today. My question is regarding
7 ground truth and histological diagnosis by a dermatopathologist. Obviously, we are
8 using the ground truth to establish performance thresholds and everything in regard to
9 SOAs. My question to you is: if a primary care physician doing a biopsy, do they use a
10 dermatopathologist specifically, or another pathologist-performing organization
11 regarding the diagnosis of melanoma? I think that could make a huge difference in the
12 data that is collected and the performance thresholds and the sensitivity and specificity
13 of the data. So my question is: are we looking at dermatopathologists with
14 dermatologists, and are we looking at pathologists with primary care physicians?

15 DR. ASHER: I think we have an expert panel here that may be able to provide
16 input on this excellent question. I would suggest Dr. Harris ask some of our
17 dermatologists to address this.

18 DR. HARRIS: Is there anyone who can comment?

19 DR. ALAM: I could. I would suspect that in some cases, perhaps in most cases,
20 that pathology obtained by primary care providers may not go to board certified
21 dermatopathologists. It is a sub field of both dermatology and pathology... I think I
22 could say this with confidence, this is a high likelihood that a sample obtained by

1 dermatologists would be checked by a dermatopathologist, with the exception being a
2 situation where the patient's insurance didn't permit that, and I think with primary care
3 physicians, it's probably going to be more mixed in terms of what type of pathologists.

4 DR. HARRIS: If I can ask Dr. Pisarik to comment.

5 DR. PISARIK: I am not familiar with the ways these things go. When I do
6 biopsies, I send the biopsies to the central lab, and I assume they are appropriately
7 trained to check that out.

8 DR. HARRIS: Dr. Borelli, any comments?

9 DR. BORELLI: I can only speak about my own experience. All of my paths go to
10 board-certified, trained dermatologists, sometimes reviewed by multiple. If it is a really
11 gray zone area, it gets sent to a specialist, oftentimes, out of town.

12 DR. HARRIS: Dr. Rotenberg, you have a comment?

13 DR. ROTENBERG: I would agree with Dr. Alam. I have practiced in areas with
14 primary care settings, especially in residency. Those biopsies don't always go to a
15 board certified dermatopathologist and certainly not someone with a pigmented lesion
16 expertise. In clinical trials, most of the pathology gets reviewed centrally. It may not be
17 as much of an issue in prospective trials. I think that is an important consideration for
18 real world data, and I think that was a great question.

19 DR. HARRIS: Any other questions? Insight regarding family practices... Next
20 question from Dr. Skates.

21 DR. SKATES: In my experience in early detection of cancer, much of the
22 framework is assessed with randomized control trials, and I want to understand from the

1 FDA, when you are assessing these devices as an aid to either a primary care
2 physician or a dermatologist, that interaction is complex, and presumably it's going to be
3 hard to capture that role. So only empirical assessment of what the impact of adding
4 SLA to the flow compared to not having SLA in the flow and doing that in a randomized
5 study would really assess what the real world impact is. But there's no consideration in
6 the presentation to date of having a randomized trial, and I'd like to understand why, in
7 melanoma or skin lesions in general, that's not considered the way to go.

8 DR. ASHAR: This is Binita Ashar, I can address that. Excellent question, Dr.
9 Skates. FDA regulations as it pertains to medical devices involves our center taking the
10 least burdensome approach to address the important scientific questions. In
11 establishing that least burdensome approach, we consider valid scientific evidence.
12 Our regulatory definition of valid scientific evidence ranges anywhere from report forms
13 to randomized on control clinical trials.

14 This is why the panel is so important. If there are key considerations, key
15 scientific questions that need to be addressed, I think this is the place where we are
16 looking to the panel to tell us: what key things need to be considered as part of our least
17 burdensome assessment using valid scientific evidence?

18 DR. SKATES: I will make it more specific. I am concerned if the device says,
19 no, you don't have melanoma, but the dermatologist says, yes, you do. How is that
20 study going to conclude what the impact of that device would be? I would be surprised
21 if it has enough currency amongst dermatologists to override their judgment that there's
22 melanoma there. Therefore, false negatives on a device study probably don't matter

1 that much. If they actually do have an impact on the dermatologist and they don't refer
2 them to biopsy and they miss the melanoma... that is a huge impact. It is very unclear
3 to me how you will assess what a false negative will be and its impact in a real-world
4 setting. And RCT will deal with that, but observational study what I understand is being
5 proposed is going to be very uncertain on that

6 DR. ASHAR: That issue that you're raising gets at the heart of the matter in
7 much of this. If the panel has recommendations on brainstorming how the clinical trial
8 should be conducted, if there should be a certain rubric or protocol embedded in the
9 study to help manage the circumstance you are talking about, if there are
10 considerations after the study is done in weighing benefit versus risk, elucidating to us
11 what those considerations are is very helpful... If in the post-market arena, there are
12 lingering concerns, how those concerns may be addressed... Things that may be very
13 helpful for our device team as we move forward together on getting the appropriate
14 amount of clinical testing data to ensure the safety and effectiveness of these devices.

15

16 EXTERNAL SPEAKER PRESENTATIONS

17

18 DR. HARRIS: We will move on now to the guest speaker presentations. The first
19 speaker will be Dr. Glenn Cohen followed by Dr. Adewole Adamson. They both have
20 been granted ten minutes to speak. Dr. Cohen, you may begin.

21 DR COHEN: Thank you for having me. Today, I will talk about the ethics and law
22 of creating AI models and apply particularly to the question of bias and racial bias. I will

1 start by having my disclosures up here. I want to start at the broadest level to expose
2 you to how an ethicist views the perils in each stage of building and implementing and
3 AI model. The first phase is acquiring the data. Does it matter if there was a stripping
4 of the 18 HIPAA identifiers?

5 Among the issues: do patients need to be explicitly consented to the use of their
6 data? Whether there has been a stripping of the 18 HIPAA identifiers? Would more or
7 less be good? Is front door consent good enough? Is that too broad or too general?
8 Do they need to be re-consented, that is the patient, for each potential use in the future,
9 or is notice about potential use is good enough? How representative is the data? If
10 racial and other minorities are underrepresented in the dataset, the model's predictions
11 will be off for them, potentially hurting them. Can statistical corrections be made in such
12 a way that can overcome this problem? If not, what resources and sense of carrots, or
13 requirements and sense of sticks, are in place to ensure a representative data set?
14 Now, this question bias will be the focus of my remarks today, but I want to put it in the
15 context of the larger questions that are legal and ethical about building and
16 implementing AI models.

17 Also in this bucket: what role should patients have in the governance of their
18 health data? Should datasets be treated by laws/trusts with the trustee and executor of
19 fiduciary duties as a union? Is there a patient's steering committee doing some
20 governance work. How can that become a meaningful opportunity for patient
21 engagement?

1 You're now at phase two: building and validating the model. How will we know if
2 the model works well enough to be used on real patients? What standards of validation
3 should be put in place? How should we be doing risk classification? Can we know
4 ahead of time about possible cascade effects as a particular model is built into a device
5 or hospital system? Who's doing most of the validating? And here, there's interesting
6 questions about the tension between trade secrecy on the one hand and transparency
7 on the other, and roles of third-party auditing versus governmental review.

8 The third phase: testing the model in real world settings. What, if anything, will
9 patients be told about the fact that a predictive analytics model is being used to partially
10 direct their care? Does there need to be separate informed consent for the use of the
11 AI parts of a device? Can patients opt out? What about cases where an analytic might
12 steer a patient towards or away from a rival risk resource and therefore opting out might
13 be a problem? What about when analytics is working in the background? It's going to
14 affect physician or nurse time — kind of invisible allocation. How do we think about all
15 this as compared to informed consent for decision aids, where physicians typically don't
16 ever consent to patient in an explicit way? There's also questions about liability: who
17 should pay? Will there be victim compensation funds as we do with vaccines? How is
18 liability being allocated between model makers on the one hand and physician, users,
19 patients, and hospital systems? Also very interesting questions about choice
20 architecture: how many overrides, how the human loop is integrated, etc.

21 Finally, the last phase broad dissemination. Once you have a product that works
22 your

1 AI is doing a great job for patients. Now we run into a problem with equitable access. If
2 all patients have contributed the data across the United States to build the model, the
3 model is developed by a private sector actor and may not be accessible to all patients at
4 all hospitals. Are there obligations to ensure access to all individuals whose data has
5 been used to build the model? How do we actually effectuate and guarantee that?

6 It was important to give you the whole panoply of legalese as I see it, because I
7 wanted to locate the one very specific one we're going to talk about today, which has to
8 do with bias. You may have heard that AI can be biased or discriminatory, but actually,
9 that label is a bit oversimplifying in terms of what we mean. The easiest version of the
10 problem to see goes something like follows. An AI app built into your phone scans
11 moles to determine which ones might be cancerous and be sent for follow-up to a
12 dermatologist. The app has been trained largely on the skin of white men and women
13 so the AI's predictions are quite good for those individuals, but not for Black or South
14 Asian patients. Now here the problem is very easy to see: the training data is not as
15 diverse as the deployment data; the problem though is typically much harder to solve,
16 but at least we can see what it is we need to do.

17 In other instances, though, in fact the problem itself is much more subtle, and I'm
18 going to tell you, this is the more modal situation. On the screen I've put this famous
19 paper by Obermeyer and co-authors, one of the best done and most famous
20 examinations of the problem in the healthcare space of the issue. And just to set it up,
21 here's how they set it up in the paper, and I'm quoting now.

1 “Large health systems and payers rely on algorithms to target patients for high-
2 risk care management programs these programs seek to improve the care of patients
3 with complex health needs by providing additional resources, including greater attention
4 from trained providers to help ensure that care is well-coordinated. Most health
5 systems use these as the cornerstone of population health management efforts and
6 they are widely considered effective at improving outcomes and satisfaction while
7 reducing costs, because the programs are themselves expensive, with costs going
8 towards teams, dedicated nurses, extra primary care appointments, loss, and other
9 scarce resources. Health systems rely expensively on algorithms to identify patients who
10 will benefit the most. Identifying patients who will derive the greatest benefit from these
11 programs is a challenging, causal interference problem that requires estimation of
12 individual treatment effects. To solve this problem health systems make a key
13 assumption: those with the greatest care needs will benefit the most from the program.
14 Under this assumption, the targeting problem becomes a pure prediction policy problem
15 that developers then build algorithms that rely on past data, to build a predictor of future
16 health care needs.”

17 Okay, so far sounds good. This sounds like a very good problem to try to solve
18 and a good way to solve it. What do they find though? They find the model. They look
19 at that, quote, “For each patient in the data set, they calculated a, quote, ‘overall health
20 status,’ the number of active chronic conditions, or ‘comorbidity score,’ a metric used
21 extensively in medical research to provide a comprehensive view of patient's health by
22 race conditional and algorithmic risk score at the same level algorithmic predicted risk

1 Blacks have significantly more illness burden than whites.” End quote. What do these
2 prediction differences actually mean for patients? Quote, “Algorithm scores are a key
3 input to decisions about future enrollment in a care coordination program, so as we
4 might expect with less healthy Blacks scored at a similar risk score to more healthy
5 whites, we find evidence of substantial disparities in program screening.” Unquote.
6 Why do we have this source of difference? It's because the model looks at a host of
7 demographics, like age and sex, insurance type, procedure, codes, medications,
8 detailed costs, but explicitly, the algorithm excludes race. Instead the problem is not
9 because the algorithm is fed race, it's because it's a result of what it tries to predict.
10 Quote, “The algorithm takes total medical expenditures or cost as its target, thus the
11 algorithm's prediction on health need is in fact a prediction on health costs.” Unquote.
12 Now, prima facie, if I asked you, “Is cost a good target for this kind of algorithm to aim
13 for?” Most people would say, yeah that seems like a pretty good target.” But in
14 actuality, it turns out that black and white patients differ tremendously on costs, and
15 that's what produces the disparity in the re-admission algorithm, namely, and again
16 quoting from the paper, “We find substantial disparities in health conditional and risk,
17 but little disparity in costs. On the one hand, this is surprising. Health care costs and
18 health needs are highly correlated, as sicker patients need and receive more care on
19 average. On the other hand, there are many opportunities for a wedge to creep in
20 between needing health care and receiving health care. And crucially, we find that
21 wedge to be correlated with race at a given level of health, again measured by a
22 number of chronic illnesses. Blacks generate lower costs than whites, on average 801

1 dollars less per year, holding constant the number of chronic illnesses. Or 1144 dollars
2 per year less, if we instead hold constant the specific individual illnesses that contribute
3 to the sum black patients generate very different kinds of costs, for example fewer
4 inpatient surgical and outpatient specialist costs, and more costs related to emergency
5 visits and dialysis.

6 These results suggest the driving force behind the bias we detect is that black
7 patients generate lesser medical expenses, conditional on health, even when we
8 account for specific comorbidities. As a result accurate prediction of costly necessarily
9 means being racially biased on health.” Unquote. Notice that we have an algorithm
10 that produces racially discordant results, but adopted a target that is prima facie
11 plausible and might have been viewed as entirely reasonable. And the problem is not
12 data set bias, it's not that this is a data set trained largely on white middle-aged men or
13 the like, instead it's about the parameterization. That's a much more subtle and much
14 more difficult problem to see, unless and until you do this kind of inventory and analysis
15 on the back end. Okay, well, what can be done? Obermeyer and colleagues at Booth
16 in Chicago put out something I highly recommend, called the Algorithmic Bias Playbook,
17 and they suggest in general four phases thinking about managing the bias for an
18 institution.

19 The first step is just to inventory the algorithms, to talk to relevant stakeholders
20 about how and when algorithms are used, to create of broad a list of algorithms abused,
21 consider broad definitions of algorithms, ask questions, and then to designate a steward

1 to maintain and update the inventory, somebody who's going to be maintaining the
2 inventory in consultation with a diverse group. That's the first step.

3 The second step, and a crucial one, is to screen for bias. You have to articulate
4 what they call the 'ideal target': what the algorithm should be predicting, versus the
5 'actual target,' what it is actually predicting, and you have to think ahead of time about
6 what kinds of mismatches could occur that could cause the bias. They call this
7 analyzing and interrogating the bias. They say we should choose comparison groups
8 like race and perform some basic checks of how well the algorithm predicts its actual
9 target, then think about how label choice might create bias and how well the algorithm
10 predicts the ideal target.

11 The third step, they say, is to re-train biased algorithms with a growth amount.
12 Try retraining the model in our label closer to the ideal target; that is, you should assess
13 possible mitigations to label choice bias by comparing results between different labels.
14 You should consider alternative options if necessary, and if that won't work, you should
15 consider suspending or discontinuing the use of an algorithm if necessary.

16 Finally, their fourth step is to set up structures to prevent future bias; to
17 implement best practices for organizations working with algorithms. Under the aegis of
18 the steward and diverse team, they say, conduct recurring audits and ensure rigorous
19 documentation of current and future models.

20 Okay, so that's advice to an institution. What advice does that yield for FDA in
21 evaluating a particular device with an AI-enabled software, as a medical device or the
22 like. And in particular I'm going to use the dermatology space as my example. As

1 applied to this area, at a minimum, any product FDA reviews should be required to
2 show it performs relatively well as to any skin tone, any race, any age, and any gender.
3 That should be a minimum. If that minimum is too high for the regulator, out of even
4 lower minimum, the label should reflect the limitations that are shown in terms of this
5 analysis. But given how much we know, there's disregarding of labels and off-label
6 usage. Truthfully, that's probably not enough. What you want is really a demonstration
7 of it – doesn't even have to be exactly the same performance, but acceptable
8 performance as between all these obvious groups. There should also be a commitment
9 to engage in post-market evaluation and looking at operations in the real world across
10 these kinds of cohort groups. So it's not enough that the version that FDA sees before
11 it's out of the barn performs well on all these measures. What's necessary is that the
12 version that is applied in the real world, which involves things like staffing, things like
13 usage, things like human factors, also is able to be demonstrated to perform relatively
14 well across these obvious groups. That is what I think the minimum you should think
15 about when approving this particular kind of technology. I'll just say thank you to you,
16 and thank you to some of the funders of the work that I do, and I hope that has been
17 helpful.

18 Dr. Adamson: My name is Ade Adamson, and I'm an Assistant Professor in the
19 Division of Dermatology at Dell Medical School at the University of Texas at Austin, and I
20 direct the Pigmented Lesion Clinic here at Dell Medical School. Today I'm going to talk
21 about the health disparities in skin cancer prevention in the age of artificial intelligence.

1 Some disclosures: I'm a former member of the American Academy of
2 Dermatology's Augmented Intelligence Task Force, and I'm also a current member of
3 the American Academy of Dermatology, Skin of Color and Skin Cancer Work Group.
4 Nothing that I say today reflects the opinions of either the Task Force or the Work
5 Group.

6 Skin cancer prevention in skin of color is a challenge, and it's a challenge for two
7 reasons. First, the incidence, or how much disease is out there, is much, much lower in
8 darker skin types, and two, when skin cancer develops in skin of color or patients with
9 skin of color, it's often later, and clinical outcomes are often worse, i.e. morbidity and
10 mortality. How can we approach prevention, and how can AI help these two issues and
11 these two challenges.

12 So first I'm going to talk about the epidemiology of skin cancer in people with skin
13 of color. I want to focus on three types of skin cancer: basal cell carcinoma and
14 squamous cell carcinoma, together that are known as non-melanoma skin cancer, or
15 more, recently keratinocyte carcinoma, and then finally, I'll discuss melanoma. Basal
16 cell cancers their incidence rates vary significantly by different groups. There's almost a
17 1,000 to 2,000 fold difference per 100,000 population between black Americans and
18 non-Hispanic white Americans. It's just a tumor that is not especially common in people
19 that have skin of color, i.e. people that do not identify as non-Hispanic white. As you
20 see here, it's about between five and six if you identify as Chinese American, 15 to 17
21 for 100,000 if you identify as Japanese. It's a bit higher for residents in Hawaii because
22 of sun exposure, and it's a little bit higher in folks that identify as Hispanic. Squamous

1 cell carcinoma incidence rates also vary tremendously by skin type: it's only three per
2 100,000 population in people that identify as black, but it's one thousand to fifteen
3 hundred per 100,000 population. So this is a very common tumor if you identify as non-
4 Hispanic white, but not if you identify as black. The differences are not as dramatic in
5 melanoma, where the incidence rates are about 30 times different in people that identify
6 as black versus people that identify as non-Hispanic white. But people that do not
7 identify as being non-Hispanic white, i.e. those that have skin of color, the rate of
8 melanoma incidence is also really low, multiple times lower.

9 Non-white race is associated with later detection of melanoma. In fact if you're
10 black you have a thirty percent lower chance of being diagnosed with stage one
11 melanoma, compared to if you're white. And if you're black you have a two and a half
12 times the likelihood of presenting with stage four melanoma, compared to if you're
13 white. And the survival curves tell a very similar story. As you can see, the top curve,
14 which are people that identify as white, they have higher survival rates than people that
15 identify as African-American, which is the lower black line. And those of Asian Pacific
16 Islander, American Indian, and Hispanic race or ethnicity are somewhere in between.

17 So there's a healthcare disparity, and it begs the question: can artificial
18 intelligence and the power that artificial intelligence has helped with these melanoma
19 disparities? In a 2017 article in Nature, authors were able to train an algorithm to
20 classify skin cancers at the level of a dermatologist. At the time this was a truly
21 remarkable feat. Now let me show you one of the key figures from that 2017 Nature
22 paper. Here in blue you have the accuracy of the algorithm, so everything to up and to

1 the right of that curve, it performs at a higher level than the algorithm. And these small
2 dots are individual dermatologists, and the green cross is the average dermatologist.
3 So you can see, for cancers, for melanomas, and for melanomas under dermoscopic
4 images, the algorithm performed better than the aggregate board-certified
5 dermatologist. This was a quite a remarkable feat as I said, but one of the major
6 problems of the study is that it lacked diversity of skin types the study actually excluded
7 acral melanomas, which are the melanomas that are most commonly seen in darker
8 skin types or people with skin of color. Acral lesions are moles or cancers on the palms
9 of the hands or the soles of the feet.

10 I've given this talk about AI and bias and skin cancer diagnosis to many different
11 audiences, and one of the things that they say is, I often hear at least, is that skin
12 cancer isn't really a big deal in, you know, darker skin types, so we shouldn't get in the
13 way of this remarkable technology. And what I usually tell them is that this is probably
14 just the tip of the spear, trying to get algorithms to decide what is and is not cancer is
15 just one possible use case. There are other diseases that these AI tools are currently
16 being developed on that aren't cancer, like psoriasis or atopic dermatitis, like
17 inflammatory diseases like that, or sexually transmitted diseases, and all of these all the
18 lesions in these different diagnoses can look different in darker skin versus light skin.
19 Having algorithms not trained on diverse data sets, which are representative of the
20 population, could increase disparities. Google, in fact, developed an AI-powered tool to
21 help users diagnose lesions and skin conditions on their own. What is really troubling is
22 that the algorithm that was used to develop this AI tool was developed on skin that

1 wasn't very representative. If you look at the development set, it had less than four
2 percent of type 5 and type 6 Fitzpatrick skin types. That's where the majority of folks of
3 color that identify as black or South Asian or, and some folk that identify as Latinx, and
4 certainly people in Africa and the African subcontinent. So you can imagine how this
5 may render some diagnoses in certain skin types incorrectly. And the lack of race or
6 ethnicity in in data sets is a problem that has been highlighted in several reviews. This
7 is a scoping review from 2021 where they showed that the makers of certain algorithms
8 use datasets in which race and ethnicity was reported less than 20 percent of time
9 Fitzpatrick's skin tone information reported 10 percent of the time. In this systematic
10 review, if you look at the characteristics of publicly available skin cancer image data
11 sets you find that almost no reporting of Fitzpatrick skin type or ethnicity, and even more
12 frightening to me is the histopathology ground truth in overall skin cancer lesions isn't
13 even reported. The problems with AI in dermatology are that: machine learning
14 algorithms are only as good as the inputs that are used to train them, and if they're not
15 representative, then we are at risk of worsening outcomes. And yes, your skin cancer is
16 less common and skin of color, but I think that skin cancer and how we approach
17 regulating apps in that space is a test case for what benchmarks that we need to set up,
18 so that uh in other conditions, we don't ignore the fact that certain disorders manifest
19 differently in certain populations. I think we have an opportunity now to intervene before
20 healthcare disparities potentially widen and worsen.

21 Now there's some potential solutions for AI and darker skin types. We can over-
22 sample skin lesions in skin of color, or over-sample rashes in skin of color as well. We

1 could design a separate algorithm for darker skin tones; I would say that's less than
2 ideal. Could you imagine having an EKG algorithm on designed on EKGs, and you
3 know for one group of people versus another... we don't do that. They're potentially
4 digital solutions which involve manipulate manipulation techniques to mimic dark skin,
5 although this is also somewhat problematic, even though this has been proposed as a
6 solution, because it doesn't recapitulate the truth . And the truth is what is required to
7 have an algorithm that performs in the best possible way as possible. So I thank you for
8 listening and also want to thank my funders for the research that I do, particularly in skin
9 cancer related to overuse and under-use in dermatology.

10

11

CLARIFYING QUESTIONS FROM PANEL

12

13 DR. HARRIS: Thank you to the guest speakers. Now for any clarifying
14 questions. And just to give some background, Dr. Cohen unfortunately is not available,
15 but Dr. Adamson is. We will circulate amongst the panelists the two manuscripts that
16 Dr. Cohen referred to in his talk. Are there any clarifying questions for Dr. Adamson?

17 DR. ALAM: Thank you for the excellent and well-thought-out talk. That gave us a
18 lot to think about. We admire your efforts to make sure the devices are not racially
19 biased. Again, I really enjoyed your talk, and I am curious as to your thoughts on the
20 realm of digital devices, SLAs, for skin cancer detection? Would you prefer for such a
21 device to be withheld from market until an adequate sample of patients with skin of color
22 are able to be enrolled and we had the same level of confidence that we'd be able to

1 detect cancer in such individuals as in other individuals? Would you consider some
2 other solution or prefer some other solution, like has been raised, such as having a
3 disclaimer that this doesn't work for skin types 5 or 6, or hasn't been tested or designed
4 to work for skin types 5 or 6, or whatever it may be. Would you suggest, like you said,
5 some amount of post-marketing requirement? Would you suggest that an approval be
6 time-limited such that, if the company didn't come up with a dataset including patients
7 with skin of color, then the approval would expire after some period of time? I don't
8 want to put words in your mouth but I'd just like hear your thoughts. Thank you.

9 DR. ADAMSON: I struggle with this question because, as I showed, the
10 epidemiology is just drastically different. From a practical standpoint, being able to
11 collect enough samples is not especially feasible. And so that the raises the question,
12 should you withhold a potentially useful device for a large number of people for a
13 disorder that is actually pretty rare in darker skin types? And where I've settled on it is, I
14 think that there should at the very least be a disclaimer that this has not been tested in x
15 skin types or racial or ethnic categories. But, you know, it's tough. But that's kind of
16 where I've settled on it.

17 DR. HARRIS: Next question from Dr. Rotemberg.

18 DR. ROTEMBERG: Thank you for such a great talk. One of my questions is
19 about the comparison to dermatologists that you showed. We've heard from the FDA
20 earlier today that the accuracy of dermatologists is not the same with an in-person
21 evaluation as compared to a tele- or a remote evaluation. How seriously should we

1 take those ROC curves, given that it's a reader study as compared to an in-person
2 evaluation?

3 DR. ADAMSON: So that's an excellent point, and what I would say is that, just
4 about every single AI study I've seen comparing, the technology to dermatologists have
5 been reader studies, which doesn't mimic the dermatology visit, right? Where you look
6 at moles, not only in isolation, but in context with other moles. You also have metadata,
7 like asking the patient, how long has it been there or what kind of sun exposure you
8 have, et cetera et cetera. I think extrapolating those, or making that comparison, isn't
9 necessarily a valid comparison, if you will. And in the real world, those splendid curves
10 that you see in these AI algorithms – I'm sure their decrement will decline.

11 DR. HARRIS: Next question from Ms. Block.

12 MS. BLOCK: Dr. Adamson, thank you for an enlightening lecture and really
13 shedding light on the importance of skin of color and representation in dermatology, not
14 only with images of but also histology. I think that's very important for the FDA to
15 consider moving forward with any SLA products on the market. My concern is, and I
16 want your advice on the products on the market now, or FDA approved class 3 devices
17 are not intended to diagnose or help adjunct diagnosis with lesions on special anatomic
18 sites, such as acral palmar and plantar surfaces. As you know, in skin of color, that is
19 typically where melanoma can be found. Is it a concern for you that these devices do
20 not focus on that, and do you feel like more time is needed for the devices to be
21 technologically ready to do so before it is proposed for use in all skin types?

1 DR. ADAMSON: I think that whatever algorithm gets developed and approved,
2 whatever company is doing that needs to have put forth some good faith effort in getting
3 as diverse population within their dataset as possible, or at least in such a way that is
4 reflective of the epidemiology of the disease as it relates to skin cancer, as an example.
5 And I do think that there needs to be some emphasis on acral lesions. I mean, at the
6 very least in my opinion because as you said, you know, those are the ones that
7 disproportionately affect folks of color. Or if you don't, say on the disclaimer not to be
8 used on the palm, soles, special sites, et cetera. And honestly that would go for also
9 patients that identify as white, right? Because those patients also would benefit from
10 having a device that found those lesions as well.

11 DR. HARRIS: Thank you. Next question from Dr. Farber.

12 DR. FARBER: Neil Farber. Thanks so much for that talk. Going a little bit sort of
13 out of the realm of developing the actual algorithm, might it be useful for changes in the
14 sensitivity and specificity, specifically for patients of color, so that basically if a lesion
15 was considered, it would be oriented more towards looking at higher sensitivity and less
16 specificity, so that the lesion would be addressed. I'm asking that because of the fact
17 that you mentioned that a lot of times you hear, well, "lesions in people of color are not
18 any big deal anyway," which is absolutely not the truth. And so I was wondering how
19 we could address that, and might it be addressed not specifically in developing the —
20 well, one thing would be to increase the number of people of color in the testing but also
21 to increase sensitivity for acral lesions — but in addition, looking at a way of setting the
22 parameters for the AI differently in people of color.

1 DR. ADAMSON: I think that will be a challenge, because if you don't have an
2 examples in your training set, then I think it will be hard to really tune anything very
3 much if your data is sparse to begin with. I don't know whether setting the sensitivity
4 gain higher or lower would help that much if, like I showed you from the Google app,
5 they have almost nobody of color in the study.

6 DR. HARRIS: Next question. Dr. Bryan.

7 DR. BRYANT: Yes. LaMont Bryan. Dr. Farber kind of touched on it a little bit,
8 but I'll go back specifically to clarify a point, Dr. Adamson. Earlier, during Dr. Alam's
9 questions specifically around representation and labelling, I just want to clarify: In your
10 response, you mentioned feasibility as it relates to making sure we had all skin types
11 representative, so I want to thank you for your data. Very enlightening, but then, two, I
12 guess I want to dig down and discuss how representative data collection is difficult but
13 feasible, and the fact that you do need the representation as articulated by your point on
14 Google. Can you clarify your feasibility point?

15 DR. ADAMSON: If we're talking about developing algorithms for skin disease at
16 large, that is a different question than developing an algorithm for skin cancer, because
17 in skin cancer, I would say — if we just take melanoma as an example, the amount of
18 new melanomas diagnosed in the United States among Black Americans is in the
19 hundreds. It's maybe 400, 500, right? In folks that identify as white, it's something like,
20 if you include melanoma in situ, it approaches 200,000. Okay? So just by that pure
21 epidemiologic mathematics, it would be hard for you to get all of these lesions in the
22 Black population, in order to power an algorithm, right? But if you think about skin

1 disease at large, people of all — I mean, humans have skin problems that need
2 diagnosing and being sure that these algorithms take that into account in their
3 development is important as a larger issue. But if we're talking about specifically skin
4 cancer, that feasibility I'm talking about is being able to train an algorithm in a disease
5 that's pretty rare in, let's say Black people, is a challenge.

6 DR. BRYANT: A challenge, versus not feasible. I understand.

7 DR. HARRIS: Next question, Dr. Bourelly.

8 DR. BOURELLY: Thank you for that presentation. I have one quick clarifying
9 question. We tend to go to the extremes and talk about very White skin vs. Black skin,
10 and you were in clear in saying there is some degree of variation when you talk about
11 Latinx, when you talk about Middle Eastern, when you talk about very fair- skinned
12 Black people. So I believe that we're talking about Fitzpatrick's 3 through 6 rather than
13 just 5 and 6. It would potentially be left out of the evaluation, I mean, if we really want to
14 look at the population that's most affected, we classify them as Fitzpatrick 1 and 2.
15 That's a pretty big chunk of people that will not be included in the evaluation.

16 Really quickly also, I just want to re-define what most vulnerable sounds like. We
17 think of most vulnerable as being the highest number in a given population who's
18 affected by this, but I would argue that sometimes the most vulnerable is a person who
19 doesn't get diagnosed, who gets late detection, because the consequences of that lack
20 of diagnoses are going to be more dire. And quickly, the last thing is, one thing I try to
21 do in my own practice which is very helpful is I try to get everybody undressed. Not
22 everybody goes for it. But you come in for acne, I also offer a skin exam and by doing

1 that, I actually increase the number of patients that I can actually evaluate for skin
2 cancer at the same time, even if they're low risk. I have diagnosed melanoma, acral
3 melanoma, on an African- American man who came in for a contact dermatitis from a
4 boot. He had no idea it was there. So I think if we all get into the practice of screening
5 everybody, before you knew it, you will have that population. If you're waiting just for a
6 Black person or a dark-skinned person to come in for a skin cancer review, I agree with
7 you 100% that won't happen, but if they're coming in for something else and we decide
8 to surveil them, it takes all of five minutes. All of a sudden you have a population right
9 there from which to draw. Thank you.

10 DR. HARRIS: And our last clarifying question from Dr. Bush.

11 DR. BUSH: Thank you. Excellent presentation. And I love the comments of Dr.
12 Bourelly. I agree with you. Would you suggest studies mirror the U.S. population skin
13 of color making it for feasible to enroll these patients, taking in account the changing
14 landscape of our population over time?

15 DR. ADAMSON: I do think that, at least for skin cancer, there should be some
16 representation of folks of color. Maybe it's small, but that reflects the epidemiology of
17 the disease. And so I think that that's important. But I'll also make another point: some
18 of you may know, I'm very interested in screening and what that means over diagnosis,
19 all of this kind of stuff. And I think that because melanoma is so rare in the Black
20 population — actually, if you stack it against the 50 or so cancers that CDER tracks, it is
21 probably the one that kills the least, right? So that's just the data, okay? That doesn't
22 mean that it's not consequential, but when you think about something as labor intensive

1 as screening... say just screening, everybody Black should come to the dermatologist to
2 get screened for melanoma... I'm not sure if that's the most productive use of the
3 limited resources that we are, as dermatologists. I think perhaps an app maybe could
4 help re-stratify some patients if it actually worked and correctly identified who should
5 come in to get checked and who shouldn't. And so that's just kind of where I sit as it
6 relates to screening in a low- risk population.

7

8

OPEN PUBLIC HEARING

9

10 DR. HARRIS: Well, thank you very much, Dr. Adamson, for your presentation
11 and response to the questions. We'll now move onto to the Open Public Hearing
12 portion of the meeting. Public attendees are given an opportunity to address the panel
13 to present data, information, or views relevant to the meeting agenda. Ms. Nalls will
14 read the Open Public Hearing Disclosure Process Statement.

15 MS. NALLS: Both the Food and Drug Administration, the FDA, and the public
16 believe in a transparent process for information-gathering and decision-making. To
17 ensure such transparency at the Open Public Hearing session of the Advisory
18 Committee Meeting, FDA believes that it is important to understand the context of an
19 individual's presentation. For this reason, FDA encourages you, the Open Public
20 Hearing speaker, at the beginning of your written or oral statement, to advise the
21 Committee of any financial relationship that you may have with any company or group
22 that may be affected by the topic of this meeting. For example, this financial information

1 may include: a company or a group's payment of your travel, lodging, or other expenses
2 in connection with your attendance at the meeting. Likewise, FDA encourages you at
3 the beginning of your statement to advise the Committee if you do not have any such
4 financial relationships. If you choose not to address this issue of financial relationship at
5 the beginning of your statement, it will not preclude you from speaking.

6 DR. HARRIS: Thank you, Ms. Nalls. FDA has received two requests. Each
7 speaker will be allotted five minutes to speak, and our first speaker is Dr. William
8 Steffes.

9 DR. STEFFES: Thank you, Mr. Harris. I appreciate the opportunity to speak to
10 everyone today. My name is William Steffes, I'm a private practice dermatologist in the
11 central Florida area. I am being compensated for my time by SciBase to offset the loss
12 of clinic revenue that I'm incurring right now. I've been practicing for about seven years
13 since residency, and I've always had a very strong interest in the early diagnosis and
14 treatment of skin cancer, especially melanoma. And so I think this is a very important
15 topic when we start talking about skin lesion analyzers and how they can help us. In
16 particular, I've been using Nevisense for about two years to obtain EIS measurements
17 on pigmented skin lesions. I believe it to be a very useful device, but of course, it's most
18 useful when added to your clinical and dermoscopic impression of skin lesions. And I
19 think it's really helped me over the last couple of years find even more subtle
20 melanomas and very small lesions since I've started implementing it in my practice.
21 That being said, I think most of the panel members will agree that the diagnosis of skin
22 cancer is complex and it requires the skills of a trained dermatologist, and you have to

1 consider all clinical parameters including the clinical impression of the lesion, the
2 physical exam, patient risk factors, dermoscopy, et cetera. So I think that these skin
3 lesion analyzers such as Nevisense do provide us with excellent information that we
4 can integrate into our decision-making process to help guide our biopsy selection.

5 In particular, I find that the devices such as Nevisense are most useful when
6 looking at intermediate or hard to determine lesions, so I don't use them for lesions that
7 are obviously melanoma or I have a very strong suspicion, but in the middle-of-the-road
8 type pigmented lesions is where it's most used. That being said, the most important
9 function for any device is that it performs with high sensitivity and that the data that's
10 being output is trustworthy, because only if the devices are reliable can we be certain
11 that they're not providing false negatives. False negatives can have devastating
12 consequences for patients, not only if you miss the melanoma, but even worse, the
13 patient goes home with a false sense of reassurance and which could lead to an even
14 longer delay in diagnosis. And that can result in metastasis and death when we're
15 talking about melanoma. So dermatologists like me really depend on the FDA to ensure
16 that devices such as Nevisense and others that may come out are adequately tested
17 and that the clinical trials that they perform are inclusive, that they're robust, and that
18 they're performed properly.

19 I think each individual device should be evaluated individually using agreed-upon
20 histopathological correlations to make sure that they're safe and that they're effective.
21 To be frank, doctors are oftentimes very busy and I don't think it's possible for us as
22 physicians to deeply analyze every clinical trial that leads to the approval of a new

1 medicine or a new device, and I personally see the FDA as being very essential in this
2 regard, because as clinicians, we need to know that if we choose to use a device, that
3 its going to be trustworthy. And even for importantly, our patients, depend on us to be
4 using devices that have been tested and that are reliable. And the risk to patients'
5 health that could result from using unsafe skin lesion analyzers for example, I think are
6 far too significant to lower regulatory controls for these devices.

7 So in conclusion, I know I only have a few minutes but I just want to reiterate that
8 as a dermatologist I think it's very, very important that diagnostic devices, skin lesion
9 analyzers, are thoroughly and properly tested through high-quality clinical studies. The
10 information that these devices provide us can be very helpful when used in the right
11 hands, and I think we can save lives by diagnosing melanoma earlier. But I think the
12 consequences of using inaccurate devices could be devastating and could lead to
13 unfortunately to bad outcomes. So I just want to strongly urge the FDA to take
14 responsibility for a rigorous review process and to keep devices, for now, in the class III
15 to make sure that the ones that come to market are being tested adequately. Thank
16 you very much for your time. I appreciate it.

17 DR. HARRIS: Thank you for your comments, Dr. Steffes. Our next speaker will
18 be Mr. Simon Grant. Is Mr. Grant available? If not, I'm to understand he may be
19 replaced by Mr. Parspinhog.

20 MR. GRANT: My name is Simon Grant, and I'm the CEO of SciBase, and
21 SciBase is the developer of Nevisense, and SciBase has been working within
22 melanoma detection for nearly 25 years. We have the most experience of any company

1 globally when it comes to melanoma detection, and we also have the most experience
2 in the U.S. Today, we have over 85 peer-reviewed articles about our technology. Our
3 product, Nevisense, it used for the detection of melanoma at the point of care.
4 Nevisense is an AI-based device used by dermatologists when trying to decide whether
5 to biopsy a lesion or not, and Nevisense is the only skin lesion analyzer available in the
6 U.S., and so SciBase is the only company today that has direct clinical marketing
7 experience of skin lesion analyzers. You discussed the situation in Europe previously,
8 and also in Europe, Nevisense is the only lesion analyzer approved under the new NDR
9 regulations, and I think it can be worth noting that in Europe, the regulations are
10 becoming more stringent, more strict, whereas FDA here is proposing the opposite
11 direction.

12 Another product, Melafind, has been discussed, and I think it's important that the
13 panel understands that Melafind never really got going in the U.S. and was withdrawn
14 from the market six or seven years ago. So we can't really look so Melafind to
15 understand the clinical realities of skin lesion analyzers in the U.S. today. SciBase is
16 the only company with direct insight into the use of skin lesion analyzers in the U.S.,
17 and we feel we can speak to the risks and the challenges of these products. We have
18 been unfortunately only been allocated five minutes to talk, but so we're going to
19 superficially go through several of the areas but we'll try to get through it. Melanoma is
20 a high-risk disease. A false negative, I think as Dr. Steffes said, or a miss melanoma, is
21 just not delayed detection. It can be fatal. In our years of experience, we see that this
22 is the area of dermatologist that clinicians are most concerned about getting it right. It

1 simply can't be wrong. So when validating new devices, ground truth needs to be as
2 accurate as possible. If the ground truth is incorrect, a study can always state the
3 sensitivity of a new device. Quite simply, it can appear better than it is. For us it is clear
4 that the ground truth must be based on histology.

5 Histology, though the gold standard, is not perfect, and so a panel of determined
6 pathologist is the safest way to establish ground truth, and this is what was used in
7 Nevisense's validation study. And when that panel disagreed, we had a second panel
8 that we referred to. So this is complex. As part of our approval process, we designed a
9 prospective validation study for our technology together with FDA. That process took
10 months, if not years. FDA considered the patient risk was high, and so we ended up
11 designing the largest ever study within melanoma detection, and it's our opinion that
12 nothing has really changed from a risk perspective. We think that this cooperation with
13 FDA in study design is one of the key reasons Nevisense is a safe and effective device
14 today. The design of clinical validation studies is absolutely critical, and it's a complex
15 process that requires direct FDA input. FDA has proposed thresholds for sensitivity and
16 specificity. We agree with the sensitivity target. At that sensitivity and negative
17 predictive value, we see are the two most important thresholds. But what is much, much
18 more important are the details of the study design and especially which patients and
19 which lesions are included. Dermatologists often tell us that they can see a late stage
20 melanoma as the patient walks in the door, and, on the other hand, it's fairly easy for
21 them to identify obviously benign pigmented skin lesions. This is not the challenge for
22 dermatologists and it's not where skin lesion analyzers can add value for patients.

1 Dermatologists need a tool to evaluate atypical lesions, small lesions, lesions that are
2 on the gray side and could be early stage melanomas. Again, it's extremely important
3 that FDA's involved in study design to ensure that adequate numbers of these types of
4 lesions are included, and this is not as easy as it sounds.

5 Furthermore, different technologies propose different challenges in different
6 clinical situations. Different technologies can be affected by different skin types.
7 Fitzpatrick, ethnicity, age, lesion location, lesion size, ulceration, and previously acral
8 skin was brought up. That's a perfect example; very much technology dependent. A
9 standardized clinical trial designed from vastly different, and even yet-to-be-developed
10 technologies, we don't think is appropriate. In conclusion, SciBase knows that when it
11 comes to designing validation study, the devil is in the details. And we are very
12 concerned that setting standardized performance goals will not be adequate to
13 effectively evaluate new devices based on very different technologies. It's actually very
14 early when it comes to clinical experiences with skin lesion analyzers. Nevisense is the
15 only device where we have U.S. experience, recent U.S. experience. And even that
16 experience is relatively limited.

17 Finally, we believe that the risk to patients has not changed. It's still high. All this
18 pointless, continued rigorous oversight by the FDA. When there's significant or
19 sufficient experience from multiple technologies and broad clinical use, standardized
20 study guidelines and down-classifications can be revisited. Until then, we're concerned.
21 We believe that the reduced level of oversight that will come from down-classification

1 and standardized approval guidelines will result in miss-melanomas and even patient
2 deaths. For melanoma it is simply too early. Thank you.

3 DR. HARRIS: Thank you very much, Mr. Grant. And now I'll see if there are any
4 questions from our panel for the open public hearing speakers. We have a question
5 from Dr. Alam.

6 DR. ALAM: Thank you, Mr. Grant. You indicated that you felt that if a device
7 were not being used by a dermatologist, but was more free standing if you will, that it
8 should have a higher sensitivity than the 90% benchmark that has been proposed. Do
9 you offhand have a suggestion for what that higher level of sensitivity specifically should
10 be? Thank you.

11 MR. GRANT: I don't really think that's my position to say, but it definitely should
12 be higher. Remember that 90% that the lower confidence bound so observed sensitivity
13 is actually much higher. So but when you put a device in the market, you know, to be
14 manager bid people who don't understand melanoma I think you have to have a much,
15 much higher sensitivity. You have to have almost 100% sensitivity because you can't
16 send those patients away with a false reassurances as Mr. Steffes discussed. I think it
17 should be very high. I mean, I don't think it's my position to say, but definitely higher.

18 DR. HARRIS: Okay. Ms. Block?

19 MS. BLOCK. Thank you so much for the presentations, Mr. Grant and Dr.
20 Steffes. I noticed that Nevisense should not be used clinically on obvious melanoma,
21 which is my concern, using it in primary care physicians or laypeople because,
22 obviously, they don't have the clinical skills of diagnosing or noticing a melanoma.

1 What's your stance in the future in regarding these types of devices for non-dermatology
2 providers?

3 DR. STEFFES: Well, I think, you know, it's a good point. As I said in the
4 beginning, if something's an obvious melanoma, we know you're going to biopsy it. If
5 you're worried about it, you should biopsy it. But that's not the sweet spot for these kind
6 of products. So that's why it's not indicated for if it's an obvious melanoma. You know,
7 you should go straight to biopsy, and we've always said that. When it comes to other
8 groups using the device, we think that, providing that there are studies that show it
9 works, that could be something that comes down the line. Today, we only sell to
10 dermatologists, okay? But down the line, if we do the studies that are required, yeah,
11 then we will have a case that we can present that it works in that situation. But it's a
12 much bigger challenge to design studies for general use, you know, for
13 non-dermatologists in a broad set of sort of lesions and these are – our study was 2,000
14 patients, but you're talking about very, very large studies and where every lesion was
15 biopsied to know exactly what the status of it was. So this would mean, we think, and
16 all we're trying to say is that FDA's input is required. We believe this should remain as
17 rigorously controlled by the FDA.

18 DR. HARRIS: Our next question, from Dr. Skates.

19 DR. SKATES: Thanks very much for both of your presentations. For Dr. Steffes,
20 can you give me a sense of what the ratio is between those that you — of the lesions
21 that you were uncertain that you use Nevisense for to guide your judgment, how many
22 false positives that weren't malignant melanoma, versus for every melanoma that you

1 found in that uncertain group? Did you experience — was it 10 false positives for every
2 melanoma, or 100 o...? It would help us quantify this benefit to risk ratio that you find
3 currently acceptable.

4 DR. STEFFES: Thank you for the question. It's a very good question. And I
5 think, I don't know the exact number offhand. I think it's how you define a false positive.
6 If we're — because sometimes when I use the Nevisense on a lesion, often times it will
7 be a moderate or severely dysplastic nevus or an atypical melanocytic proliferation. So
8 I think if you're counting those as false positives, I would probably say it's closer to the
9 10 number that you stated earlier. Again, I think as dermatologists, I think we're pretty
10 experienced and skilled at selecting atypical lesions, and so most of the lesions that I
11 test with Nevisense usually come back with at least dysplasia, and some of them come
12 back as melanoma. I think the trick is, when you see a lesion that you're only mildly
13 suspicious of clinically and you get a higher reading with the Nevisense or a similar SLA
14 that comes down the line, is that it pushes you to do a biopsy that you might not have
15 otherwise done. And therefore, you capture some additional melanomas in that regard.
16 I don't think I totally answered your question, but I would say that — because I don't
17 know the numbers personally offhand; I would have to look it up — but I think if you were
18 not counting dysplasia and atypical melanocytic proliferations I would say 7, 8, 9... to 1.
19 Somewhere in there.

20 Dr. SKATES: Okay. Great. Thanks. And Dr. Grant, do you have any summary
21 from your study in the U.S. as to what that ratio might be? And the reason I ask this,
22 just to put it in context, I would like to see the SLAs, if they were to be put into the hands

1 of primary care physicians to at least raise the accuracy level, to what a dermatologist
2 could do without the SLA. Do you have an estimate from your studies of what the ratio
3 of — I'm really interested in false negatives so the way you missed to false positives
4 where you said that the lesion might be a -- it needs a biopsy but turned out not to be
5 malignant and that will help us quantify this benefit to risk ratio. I did find the Melafind
6 study on FDA. They had a link to that, but there wasn't a link for Nevisense, and having
7 those data would be really helpful.

8 DR. GRANT: The ratio was 7.3 to 1 in our clinical study.

9 DR. SKATES: Okay. As a biostatistician I'd be very interested in getting access to
10 the same document that Melafind study had access.

11 DR. GRANT: Yeah. And we would be very happy to supply that to you. We were
12 surprised it was not included as well, so it's... yes. This was a really large well designed
13 study and I think, you know.

14 DR. SKATES: And I'd have to agree with you that the details of the study design
15 are crucial as a biostatistician and early detection researchers so I applaud that
16 attention to detail and I'd like the FDA to, you know, it's great that the FDA is putting that
17 level of effort into it.

18 DR. GRANT: Yeah. I think this is what we're trying that say, is that cooperation's
19 essential, we think, because the technologies are different, and so we really need to
20 make sure that you're taking account of those differences when you design the studies.

21 DR. SKATES: Thank you.

22 DR HARRIS: Great. Our next clarifying question from Dr. McGrath.

1 DR. MCGRATH: Mr. Grant, I just want to be crystal clear about something you
2 said about the rigorous FDA governance of devices. I realize we're not discussing the
3 reclassification of Nevisense until tomorrow.

4 DR. GRANT: Yeah.

5 DR. MCGRATH: But are you saying — are you just speaking now about
6 forthcoming diagnostic devices, or are you saying that think that devices that are
7 already on the market, like Nevisense, should remain in a Class III category?

8 DR. GRANT: Yeah. That's what we believe. We believe the Class III is the
9 correct category class for these types of types.

10 DR. MCGRATH: The ones that are currently on the market.

11 DR. GRANT: Yes. And new ones. Yeah.

12 DR. MCGRATH: Thank you.

13 DR. HARRIS: Thank you. Next question Ms. Hesser.

14 MS. HESSER: My question is for Mr. Grant. I'm Deneen Hesser, the Patient
15 Representative. Thank you for both of your letters and the presentation you've made.
16 In the real world, many patients engage first with a physician's assistant or a nurse
17 practitioner in a dermatology office. What would it take to extend the use of these
18 devices to that group of health care professionals?

19 DR: GRANT: Well, the way we look at it is that as long as it's used under the
20 instruction or the supervision of a dermatologist, that's okay. And so P.A.'s can be
21 involved, for example, and are involved today.

1 MS. HESSER: Do you currently offer specific training for those groups of health
2 care professionals?

3 DR. GRANT: We do. We'll put it this way: we tailor our training, which is, we
4 have a training program, depending on the audience. If there is someone who is going
5 to be assisting with doing measurements, we focus more on operational aspects, but
6 everyone gets a basic training in the operation of the device, and how it works, and
7 when to use it.

8 MS. HESSER: Okay. Thank you for your responses.

9 DR. GRANT: You're welcome.

10 DR. HARRIS: Okay. So if there are no additional questions from the panel, I will
11 now pronounce the Open Public Hearing to be officially closed. At this point, we will
12 now take a lunch break. Panel members, please do not discuss the meeting topic
13 during lunch amongst yourselves or with anyone attending virtually. We will resume the
14 meeting at 1:00 P.M. Eastern Standard Time. Thank you.

15

16 PANEL DELIBERATIONS

17

18 DR. HARRIS: It is now 1:00 p.m., and I would like to resume this Panel meeting.
19 We will now begin the Panel Deliberations. Although this portion is open to public
20 observers, public attendees may not participate except at the specific request of the
21 Panel. Additionally, we request that all persons who are asked to speak identify
22 themselves each time. This helps the transcriptionist identify the speakers. During the

1 next hour, we will open up the floor to questions for the FDA. Is the FDA prepared to
2 respond to panel questions posed today?

3 DR. ASHER: Hi. Yes.

4 DR. HARRIS: Great. So, let's open the floor. Do any panel members have a
5 question or comment for the FDA? Perhaps I can -- I don't know if Dr. Skates... Has he
6 joined us yet?

7 DR SKATES: Yes, I have.

8 DR. HARRIS: Perhaps you may revisit your earlier comments, because perhaps
9 that would be provocative and useful.

10 DR. SKATES: Yes. I was actually just writing up a Word slide essentially to give
11 an example from the Melafind study, and I was hoping to actually get the Nevisense
12 results as well, but I think they can wait. In the MelaFind study, the dermatologist had
13 — there were 84 lesions where they thought the melanoma was definitely benign. They
14 were, in fact, melanoma. On the flipside – and those were they thought it was
15 melanoma – sorry, where they thought a biopsy was needed, and there was suspicion
16 of melanoma, there were about 1400 false positives. So, ones which were benign did
17 not have melanoma. That gave in their hands ratio of about 700 biopsies that were
18 melanoma for every one melanoma that they missed. I'm suggesting that is weighing
19 the false negative of missing a melanoma with 700 false positives in doing a biopsy
20 when it wasn't melanoma. In some sense wasn't needed. And if you use that as a
21 weighted benefit risk ratio, then is it possible to share my screen? I do see a share
22 screen. Okay. I will just click on that.

1 And in the Melafind study, the benefit-risk ratio weighted for dermatologists was
2 true positives to false negatives divided by false positives weighted 700 less than the
3 false negatives gave a benefit-risk ratio of 44. What I'm going to suggest is that is what
4 should drive the benefit-risk ratio for an SLA. That gives the quantification for then that
5 then leads to specificity. And now you can set a specificity to arrive at that benefit risk
6 ratio when using an SLA. So, what I'm trying to suggest here is you don't set specificity
7 in an arbitrary goal like 80% or 90%. What you do is you try to set it so the benefit risk
8 ratio, that's the harm, or that's the relative ratio of benefit to harm... You want to try and
9 obtain the same benefit-risk ratio that dermatologists achieve in their study. And the
10 Nevisense results should also weigh in on this in terms of how many false negatives
11 and how many false positives there were, and that ratio will give us a sense for what
12 dermatologists can achieve. And if we want to apply that to primary care physicians or
13 primary studies, which might have a slightly different study design, then that is how we
14 can incorporate this notion that sensitivity is very important. You want to have this false
15 negative, which is the risk of a false negative be weighed much more highly than the
16 false-positive, which is biopsy when they don't have any melanoma. But missing it if
17 they do have a melanoma is really important. That's reflected in this relative weighting.
18 The overall benefit-risk for the dermatologist is 44, and that will lead in any particular
19 study to a specificity study. I would say if it meets the same level as the dermatologist,
20 then you are obtaining the same benefit-risk, and therefore, it is as safe as what a
21 dermatologist can provide a patient.

1 I would like to — and then efficacy, or safety and effectiveness... Effectiveness is
2 some measure of sensitivity in what an SLA either achieves or adds to the
3 dermatologist or primary care physician's sensitivity. But my understanding of the
4 FDA's Act is that the Act requires the FDA to assert the device is safe as a first measure
5 -- and this is my measure, I'm going to suggest safety – and it starts with this benefit-
6 risk and it leads to specificity. So, I would like to throw that out there and suggest that
7 that is a principal approach and quantifiable approach for making judgments about
8 safety, whereas specificity doesn't get this ratio of benefit-to-risk explicit. This does. I
9 would like to suggest that be at least a consideration if not a prior step that then leads to
10 specificity in the FDA's deliberations.

11 DR. HARRIS: Okay. Thank you. Next comment by Dr. Pisarik.

12 DR. PISARIK: I have a question. It may be kind of obvious, but the purpose of
13 these SOA's is to increase appropriate referrals to dermatologists, decrease wait time,
14 and ultimately decrease mortality of skin cancer. Do we have any evidence that that is
15 happening or that can happen?

16 DR. HARRIS: Anyone from FDA be able to address that question from their
17 research or presentations?

18 DR. ASHER: This is Bonita Asher from FDA. We appreciate your comments
19 pertaining to the questions that we have put forward for you. There is a variety of
20 manufacturers that may come to us with any given indication that they wish to market,
21 and it would – the incumbent on us to let them know the level of evidence necessary to
22 justify the proposed indication that they see. So, it could be anything and everything

1 that you are suggesting there. Really, I think this is an exciting area of innovation, and
2 we're just looking for feedback. If you have specific feedback on a specific indication,
3 that would be helpful. Or if you have just general guiding principles on considerations
4 that we need to keep in mind pertaining to these devices as a whole... that would also
5 be helpful.

6 DR. HARRIS: Did that answer your question, Dr. Pisarik? Because that wasn't
7 my understanding of your question.

8 DR. PISARIK: Pretty much. I don't know that there's a lot of data that shows that
9 can happen, but I wanted to see if there's anything out there that said these devices
10 would do any of that. In fact, it can actually increase wait time. A lot of people use the
11 device, and all of a sudden they're concerned; they go to the dermatologist, and the
12 dermatologist, and the dermatologist's wait time could be even higher prior to what it is
13 right now.

14 DR. HARRIS: Does anyone have any knowledge that can address that issue as
15 to what is the impact of these devices? In terms of mortality rates, morbidity, access,
16 delayed diagnosis? It doesn't appear that anyone has an answer for you...

17 DR. ROTENBERG: My understanding of what Bonita has said – sorry to
18 interrupt, this is Veronica Rotemberg – is that it is our charge to say, we need studies
19 we need to answer, in order to guide the FDA indications and approvals. And if our goal
20 is decrease mortality, how do we suggest that these companies study — that in terms of
21 their trials rather than, that evidence to my knowledge doesn't exist, but the question for

1 us is: what evidence would we like to see, keeping in mind these patient outcomes that
2 we care about the potential approval of the devices. But correct me if I'm wrong.

3 DR. ASHER: That's absolutely correct. Thank you very much.

4 DR. SKATES: So, Dr. Asher, in my experience with mortality reduction for early
5 detection, I have been involved with early cancer detection, and that required hundreds
6 of thousands of women to be randomized after screening after a 20-year study. The
7 studies that FDA is being proposed here are simple sensitivity/specificity benchmarks
8 that take 1 or 2 years maybe, I don't know, 3 years. If, indeed, mortality reduction is an
9 endpoint the FDA wants to see, that's an order of magnitude greater time and effort and
10 money, and I would say it goes beyond the minimal burdens of criteria that you set out
11 earlier today. So, I think we need to pick our endpoints; that's a combination of clinical
12 utility to the patient, but feasible to the company. There is a balance there and going all
13 the way to the gold standard requires is quite burdensome. I just want to make people
14 aware of that.

15 DR. HARRIS: Okay. Next question, Dr. Alam for comment.

16 DR. ALAM: Yeah. Thank you. I just want to make a couple of brief comments to
17 frame my views of some of the issues being raised. I think it's an interesting formulation
18 that Dr. Skates raises in terms of what the benchmark should be. I do think there are a
19 couple of other considerations we have to keep in mind. One is whether such devices
20 are used with a dermatologist or without a dermatologist, because if they are used
21 without a dermatologist, there is really no way to modify the output that's coming out,
22 and that's pretty much what would be the sole decider of further activity. And so maybe

1 the thresholds for accuracy need to be higher. And I've heard some of the speakers
2 mention that, probably higher in that case

3 Another thing to consider, and I like Dr. Skates's formulation, is the idea of false
4 negatives and false positives and what that really means to the patient. So, in general,
5 we don't like false positives because they entail a procedure, perhaps, that is not
6 necessary. But I think we have to also remember what the procedure is. It's generally
7 shaved skin biopsy of maybe 3 or 4 millimeters of superficial epidermis and a little part
8 of thick dermis – it's not very different than scratching yourself while unlocking your front
9 heels in 2 or 3 days. It's very different than, for instance, a kidney biopsy or something
10 like that, which would pose substantial risk to the patient, or discomfort, or even anxiety.

11 I think another consideration there is: we are talking about the benign and
12 malignant as sort of a bimodal distribution. We're looking at how many were false
13 negatives and how many were false positives, but, as has been raised by some other
14 speakers, there's actually a spectrum. We might not be getting a melanoma in some
15 cases, but not all of those, even most of those, are benign. They might be moderately
16 to severely atypical moles. Most dermatologists – if I can make that assumption – most
17 dermatologists believe there's a progression. So, it's not like you have a melanoma or
18 don't have a melanoma, but you could have a lesion that can progress to melanoma.
19 So, there still a benefit in removing a lot of those lesions that are not technically
20 classified as true positives, but really are not an accurate diagnosis because they
21 would've become something. And as we heard before with melanoma, the main way to
22 prevent harm is actually to get them early. Once you have gotten a nodular melanoma,

1 even if you detect it, there's not much you can do in regard to subsequent mortality. I
2 think those are some considerations, which I think should impact sensitivity and risk, or
3 where my sense would be if a device is being used by a non-dermatologist to make a
4 primary determination. And given the low potential of harm and potential benefits of
5 detecting lesions that are still in the process of going bad, I'd really like to bias it quite
6 substantially – bias is the wrong word. I'd really like to make sure that the device is
7 very, very sensitive or has a very high risk-benefit ratio. Thank you.

8 DR. SKATES: So, Doctor, can I just suggest that my 700 ratio of cost of a false
9 negative, which is you missed the melanoma, is 700 times as bad as a false positive
10 where you do a biopsy on someone who didn't need it or made some judgment of not
11 needing it. So that's a very high ratio, and that will require a very low false negative
12 rate. And if I can just share my screen one more time. This is from the MelaFind table
13 of how the dermatologist performed. You can see there's only 2 false negatives, 82 true
14 negatives, but 1400 false positives. That ratio 2 to 1400 is where I get that 7
15 hundredfold ratio. If we can achieve in other people's hands a similar ratio, then I would
16 say that's a reasonable judgment to say that that device is helping the other person or
17 other provider achieve the same level of safety as a dermatologist. So, I'm just hoping
18 that's in the ballpark of what you are saying.

19 DR. ALAM: Sure. I would agree with that. I'm just saying it might be even higher
20 because that's with the dermatologist, but potentially without a dermatologist. And that's
21 assuming all of those 700 were really wrong. The other 699, whereas, in fact, any of
22 those other 699 might've actually been correct because they could've been severely

1 atypical or moderate. I think that's a good starting point, but I think if it's a freestanding
2 device or number of lesions, the number could be higher for freestanding device used
3 by a patient. Thank you.

4 DR. HARRIS: Next question, Dr. Skelsey.

5 DR. SKELSEY: Thank you all, Dr. Alam and Dr. Skates, for these comments,
6 because they are helping to clarify some things in my mind. One of the things I've been
7 thinking about is if we are going to be also kind of making a decision or making
8 recommendations about the output, in terms of whether it's only binary. Are we only
9 answering the question of something yes or no, should we biopsy or not biopsy? Or
10 these performance thresholds applicable to devices that are going to also give a
11 differential, and maybe with percentages? Because those are very, very different.
12 What I'd also like to know is, as we look at the question of safety, what was the reason
13 CDRH required a post-approval study to determine when they were looking at with
14 MelaFind over 10 years ago? It's important for us because the same thresholds are
15 possibly going to be applied to new devices. So, that would be helpful for me, and
16 perhaps other members of the Committee, to get a better understanding of, what were
17 those safety issues? What were the studies that were followed up, and what was the
18 data afterwards?

19 DR. ASHER: This is Bonita Asher. I just want to communicate, the team has
20 provided the background information that's less contemporary for the Panel just to be
21 complete. MelaFind was brought to an advisory committee at the time. The panel at
22 that time deliberated over on the pre-market study and made recommendations

1 regarding the post-market expectations. And so, this Panel here is not intended as to
2 what the safety question was pertaining to the MelaFind device.

3 DR. SKELSEY: Because we are looking at performance thresholds for all of
4 these devices, and potentially using the same ones and trying to get new data and
5 make new parameters. And whether it's a number needed to biopsy, are we getting the
6 right number? Both Dr. Skates and [] brought up sensitivity, and also about balancing
7 these with having a reasonable number. So, were those the issues? And if so, how did
8 you tease these out so that when you have the next iteration of these devices, how did
9 you collect that in particular? Those pieces of information? Because was that useful?
10 Because I think Dr. Skates pointed out maybe it's not straight specificity. Maybe we
11 need to look at something else, like this very large number of lesions that ended up
12 being false positive.

13 DR. ASHER: This is Bonita Asher again. My best advice to the panel would be to
14 — I think this is a great discussion. It's a complicated topic and there's a variety of
15 indications that any one manufacturer may choose to pursue. Each indication has
16 complexities on where in the treatment care path they are targeting device use, and
17 precisely what they seek to say, in terms of cancer, the value added associated with the
18 device. And so, we look at that in context with a benefit-risk profile. Certainly with
19 cancers and issues where there are good treatment options available, there may be
20 little room for a device to move the needle and change the risk profile for the patient in
21 the scenario we are talking about. So, I would not necessarily say that we are only
22 looking at overall survival, long population studies, but I think it may be helpful in your

1 discussions to put in everybody's mind the same scenario that you all are thinking
2 about. I'm looking at a scenario, for example, of layperson use of a device that intends
3 to indicate to the user whether or not they should seek immediate medical attention.
4 That might be a very different device than another panel member may be envisioning as
5 they are developing their comments regarding one that, perhaps, is supposed to
6 prevent people from dying from melanoma. So, I know that my response is a little bit off
7 point. It's not directly addressing your question. Again, I will ask the team to obtain the
8 information more specifically around melanoma, but I do believe that the panel has all of
9 the relevant information of available to them to make recommendations around the
10 questions we have posed.

11 DR. HARRIS: Next question, Dr. Farber.

12 DR. FARBER: Thanks so much. Neil Farber. So, there's a couple of comments
13 I'd like to make. One is — and thank you, Dr. Skates and Dr. Alam and everybody else
14 for your comments. What I've seen so far is everybody addressing the issue of, is this
15 melanoma or not? We —at least from what I read in terms of the briefing materials — we
16 were supposed to address not only melanoma, but also basal cell cancer and
17 squamous cell cancer. And I think that basically the risk-benefit ratio has to be different
18 for looking at cancers that are not as dangerous to people as is melanoma. And that is
19 so because of two things: one, I don't think anybody would disagree that, in a
20 melanoma, if there is a suspicion of melanoma and an SLA comes up with that
21 determination, no one would argue that there should be less false negatives. Or rather,
22 more false negatives, sorry, than were seen in the studies that were done. And that is

1 because of the fact that we don't want to risk anything. However, in a situation where
2 you are looking at, is this a basal cell carcinoma, it's perfectly acceptable to have false
3 negatives for the sake of specificity. And the reason I say that is that it's not just about
4 the anxiety about the biopsy, or the use of healthcare for a biopsy, or the trauma of a
5 biopsy, but it's also the anxiety of a patient hearing they have a possibility of having
6 cancer. Perhaps, especially if this is a lay population using it, not knowing that
7 carcinoma is not much of anything in terms of a danger to them. And therefore, until
8 they get to see somebody, having a great deal of anxiety about it. And so, I think it has
9 to be very clear what population we're talking about. Not all oranges or apples. They
10 are both and we have to assess that.

11 The other thing, I think that we have to assess at some point in time are, what are
12 the psychological risks for the patients when they are having a false positive? And that
13 can be done in lots of different ways. One can do it even without having patients being
14 involved in the study. For example, you can do hypothetical scenarios and assess their
15 likelihood of being concerned about it. Like thinking cancer, and can you die from it,
16 etc. You can also survey individuals who actually did have the experience of going
17 through and having a positive, whether it's a false positive or true positive. And as well
18 as false positives. And that can be done also through various survey instruments. I
19 think those things have to be looked at.

20 DR. HARRIS: Thank you. Before we go on to our next question or comment, I
21 would just like to ask the panelists to refer if at all possible to the materials that have
22 been presented to you, and how that is relating to or generating your questions or

1 comments to help review the division kind of categorize the discussion. Our next
2 question or comment from Dr. Rotemberg.

3 DR. ROTEMBERG: Thank you so much. I'm Veronica Rotemberg. I think a lot
4 of these comments are very relevant, and I'm glad to be going after you, Dr. Farber,
5 because one of the things that's so important here is the nuance of the intended use
6 and setting. When we talk about, in a dermatologist's hands, dermatologists vary their
7 number needed to biopsy between two benigns to one malignant, to 30 benigns for
8 melanoma specifically. It's different for non-melanoma skin cancer. So, a 700 to 1 is
9 probably not a reasonable comparator to a dermatologist and probably places
10 significant undue burden on the healthcare system. However, that's obviously different
11 for the intended use of a layperson or primary care physician. And I think one of the
12 things that I was hoping to talk about when we talk about intended accuracy is the
13 measured improvement over the current standard of care in prospective studies,
14 because that's what's really going to matter. It's not going to be the absolute specificity
15 or sensitivity of something on a static image that, as Dr. Adamson already discussed, is
16 not comparable to an in-person assessment by physician or provider, and it's not going
17 to be comparable to anything except what is happening in the intended use setting.

18 I would suggest we think about what percentage improvement in sensitivity or
19 specificity. It's hard to measure sensitivity in real-world practice because, of course, we
20 are just looking at what providers have selected for biopsy, but overall, what
21 improvement in specificity we would like, as compared to a potential loss of one or two
22 false negatives? And then I think the discussion of false negatives really lacks nuance,

1 as well, and that's something we should add as a panel. Because a false negative
2 severe – which has a less than 1% chance of being an MIS on pathologic review when
3 it's resized – is very different from a negative invasive melanoma, and we cannot just
4 consider those to be the same. I think even though it is more work, I would challenge all
5 of us to think about these complex issues in a very nuanced fashion, because it's going
6 to really matter. And I'm really building on everything that everyone has said.

7 The last thing that I would add to this discussion is that the differential output, as
8 has also been said, binary malignant classification versus multi-class... Those things
9 have been shown in studies with dermatologists and other providers to really impact
10 what the outcome is, so, when a dermatologist is faced with the classifier that says
11 'benign/malignant' versus multi-class output, even if it's the exact same classifier, their
12 behavior is going to be different, based on what just the interface shows them, and we
13 have some fairly good in silico studies that tell us that. So, we can't adjudicate any
14 specificity or sensitivity material in a vacuum, because we also need to know how the
15 intended user is going to interact with the system.

16 DR. HARRIS: Any comments from FDA?

17 DR. CHEN: This is Colin Chen. There is standalone improvements by certain
18 skin lesion imaging devices. Also, there is the concern whether that real-world use
19 really improves performance parameters, or sometimes it could impede the real-world
20 uses. For example, with MelaFind, we had discussion of how sensitive and how
21 specific a MelaFind device was at detecting melanoma. Also, there was a reader study
22 to show how it increased or decreased dermatologists' performance reviews in the

1 clinical setting. Also, as a condition of approval, there was a post-study that follows up
2 to study the device use in real-world to see how good it is. So, yeah. It is complicated
3 situation. Not just by the device's standalone performance, but also other factors:
4 graphic user interface is a factor, for example. The output format, how it looks like...
5 that could affect a user's performance. That was considered as well. But also, at the
6 same time, we have seen in research that is reporting it could be important to consider
7 all the approaches together, whether there could be any info I know to a study design
8 that would be more efficient is more important. And that's one of the questions we are
9 bringing out at the Panel, to have some future device design.

10 DR HARRIS: Thank you, Dr. Chen. To get back to the question pertaining to
11 MelaFind, the purpose of that study was to evaluate whether MelaFind increases the
12 sensitivity of physicians in diagnosing melanoma and high-grade lesions while the false
13 positive rate was not substantially elevated. Essentially, whether the accuracy of the
14 device can be confirmed based on real-world evidence. So, that was the history there.
15 Pertaining to the comments that the Panel is providing, and we sincerely appreciate the
16 considerable thought that is going to this very complex topic, it will be very helpful if you
17 could please stratify your responses as to whether or not you are speaking to malignant
18 melanoma or non-melanoma, as well as whether you are speaking to a device used by
19 laypersons, non-dermatology, healthcare providers, or dermatologists. Perhaps using
20 those categorizations would be helpful in your discussions among yourselves, as well
21 as we go through the Panel transcript and take your comments to heart. Thank you
22 very much.

1 DR. HARRIS: Next question from Dr. Burke.

2 DR. BURKE: Thank you. I am Dr. Karen Burke in New York at Mount Sinai
3 Hospital. First of all, I think lots of the previous comments address things I was going to
4 say. I just want to point out several things. First of all, Dr. Skates did a great analysis of
5 the MelaFind. I just want to point out that MelaFind, in doing this study, they had a
6 classification of absolutely certainly benign and absolutely certainly malignant, and
7 within that, they had three categories of 30%, 30%, 30%: minimally suspicious,
8 moderately suspicious, or highly suspicious. I think all of those were part of the false
9 positives. Perhaps it would be interesting, perhaps, to do their data — and I also called
10 to see if we can get the same kind of data on — I talked to the FDA about getting this
11 kind of report for a good comparison. So, just maybe the data could be fine-tuned a bit,
12 and it might not be quite 700 to 1, because minimally suspicious might almost be
13 considered benign. And then I think, also, what Dr. Alam said is just very important: that
14 first of all, these biopsies are very minimal and the main name of the game is not to
15 miss a melanoma. I tell all my residents that everything you suspect is the cancer
16 comes back as the cancer as, you're probably missing something. All of us
17 dermatologists biopsy something that is so called the “ugly duckling,” or the different
18 lesion that really looks like a keratosis, and it comes back as a melanoma or a wart on
19 the foot. I think it's actually good to be more suspicious, and the Nevisense they said
20 they had 7 biopsies to one melanoma. That's extraordinary and I think dermatologists
21 might like that because we shouldn't over-biopsy. The problem with over-biopsies is,

1 especially in the dysplastic nevus syndrome patient, where everything you would biopsy
2 on a patient of hundreds would come back as dysplastic... but we have to survey.

3 My other comment is that of the ones that are false-negative, they are not
4 melanoma. But once a patient has hyperplasia or dysplastic nevus, they are already in
5 a different category. And that's like a category. If someone is light skinned, had
6 multiple blistering sunburns... these are all extra factors that must be weighed into a
7 patient. So, if a patient comes with one little abnormal mole that is very dark on a light-
8 skinned person, I think most of us would biopsy. Likewise, if a dark person, a black
9 skinned person comes in with a dark mole that doesn't have any other of the ABCDE
10 criteria, we probably would not biopsy. But in finding a dysplastic nevus, that puts a
11 patient in a category of having to be surveyed maybe at least once a year. Especially if
12 they are continuing to have sun damage.

13 And the other thing I just want to address is anxiety. That's so different to
14 quantify, and to me, the basic thing that we want is to not miss a melanoma, or even a
15 very dysplastic lesion, or melanoma site 2 that could progress. And everyone anytime
16 has a test, I think there's a degree of anxiety. If you have high lipids, you're worried
17 about your lipid count. If you had prostate prostatic hypertrophy you're worried when
18 you get your blood test. Usually, a biopsy comes back in a week, and if the doctor says,
19 "Well, I'm not sure and I'm getting a consult," then the patient should be extra happy for
20 the extra surveillance. And some people are, as we all know, some patients are
21 anxious despite multiple reassurance, and other patients are little less suspicious even
22 if they have a dysplastic nevus or whatever. Anxiety is certainly important personally for

1 each patient, but I think that is what the doctor-patient relationship should be, to quell
2 that. Because here we are talking about a machine that measures a quantitative thing,
3 and any anxiety involved with waiting for the results of either this measurement or the
4 biopsy. That's a sort of difficult parameter to include. What we're aiming for is 100%
5 sensitivity, and maybe specificity of 50%. If sort of half of what we biopsy needn't be
6 biopsied, that's not so bad, because certainly a dermatologist with a trained eye does
7 not biopsy things that are obviously benign. But the spectrum between has clinical
8 consequences for surveillance, and that is also important.

9 DR. HARRIS: Any comments from FDA? Okay. Our next question or comment
10 would be Dr. Bourelly. Before she speaks, everyone just ensure that your hand raise is
11 contemporary and you didn't just forget to put it back; that you have another question.
12 Great. Dr. Bourelly?

13 DR. BOURELLY: Thanks. In reference to the comment just made, the
14 dermatologist who maybe doesn't have much experience, or maybe the layperson who
15 chooses an SLA and develops anxiety because they think they have something, and
16 they come into the derm and sort of demand a biopsy. If the derm thinks, I don't think it
17 needs be biopsied, or we can monitor, are we now putting an undue burden on the
18 provider, whether it's a dermatologist or someone else who decides to biopsy to either
19 use that same SLA, do I have to purchase one now, or do I have to biopsy because the
20 patient is very anxious, because their version of it told them that this is something that
21 needs to be biopsied. I just wondered if anyone from the FDA has thought about that
22 scenario and how it might impact us in real, practical terms. Thank you.

1 DR. ASHER: This is Binita Asher. I think it's an excellent issue to flag. How
2 would you recommend that be addressed? Others on the panel. Including Dr. Bourelly.

3 DR. BOURELLY: Well, really quickly, I would say I would make sure it gets in the
4 right hands. Again, I'm kind of in favor of derms using devices like this. I understand
5 the necessity of maybe branching out, and I'm totally open to that. I do think it would be
6 very important to add the information that this is just adjunct. This is not diagnostic.
7 And I'm not sure the layperson will hear that. I do have great concerns about the
8 layperson sort of feeling overly confident in reducing the provider to a technician. I think
9 I need a biopsy. I'm coming in. I'm going to get a biopsy. If you don't do the biopsy,
10 what sort of tension are you creating between yourself and the patient...?

11 DR. BALLMAN: So, just a quick comment on that. This is Carla Ballman
12 speaking. I think for the layperson, it should not be a result that is, 'biopsy or not', but
13 should be a result, 'see a specialist' or not, or, 'see a dermatologist or not. Take that
14 'biopsy' out of there.

15 DR. FARBER: And this is Neil Farber. I agree, it shouldn't be a 'biopsy,' or not for
16 the laypeople. The other thing is that it requires, unfortunately, the dermatologist to
17 spend some time and use good communication skills to be able to talk with the patient
18 and explain whether it is something that does really need to be biopsied or not. So,
19 there are ways of addressing it, but they are I think outside of the issue of how does the
20 FDA assess the performance of the SLAs.

21 DR. ASHER: Let me -- so, you know, I think we are converging on it. Typically a
22 manufacturer comes to us seeking to make a particular claim relating to their device.

1 And so, it's not FDA's role to say this is not an indication that you can never have, but
2 rather to tell them the level of evidence that we would need to justify that indication
3 could be legally marketed. So, I think you are saying perhaps it may not be appropriate
4 for a layperson to receive information on whether or not a biopsy is necessary because
5 certainly that may be difficult to justify. But can you imagine scenarios, for example,
6 where top diagnoses are shared with the layperson saying, you know, this is the
7 likelihood of a malignant melanoma. It's X percent or in the top categories on these are
8 the top diagnoses, and all of those diagnoses are ones where possibly the next step
9 would be to biopsy. You know, can you converge on this a little more deeply, on
10 explaining what would FDA say to manufacturers that are seeking to make claims along
11 these lines, regarding the types of studies they would need to do both in the pre-market
12 and post-market.

13 DR. ROTEMBERG: Just to directly address this. This is Veronica Rotemberg.
14 We have the tools to answer these questions, and the tools are prospective studies.
15 We don't know what would happen if we get these perspectives to patients. Will they
16 call their dermatologist? Will they call their primary care doctor? What will they say?
17 But we do have the tools to ask manufacturers to study this prospectively and quantify
18 how many additional biopsies of benign lesions are performed? What is the additional
19 burden on dermatologists? I think that would be my opinion about what we have to do,
20 is define what prospective information and the intended use setting we need to feel
21 comfortable saying that these tools could be in a layperson's hand or primary care
22 person's hand. I want to also clarify that we know that if you give percentages versus

1 just benign malignant, the outcome is different. The way that patients interpret that is
2 different, and that also needs to be studied prospectively. There's too much nuance
3 here to be able to say, "This is what would happen without testing it for sure." And
4 these studies do not need to be big, especially in non-melanoma skin cancer, which is
5 very common. I do not think these prospective studies would be very burdensome, but
6 they would help us understand what the burden would be and what the cost would be in
7 with the potential harms would be.

8 DR. ALAM: I would agree with that, if I could weigh in. I think it is nuanced, and,
9 Dr. Asher I think maybe what we were saying would be, indirectly in the case of, to
10 specify as per your previous recommendations, of the layperson using a freestanding
11 device. I would say even more specifically primary prevention. This is not a person
12 who has a history of skin cancer; he was just trying to monitor. Someone who doesn't
13 know much about skin cancer, who has this app, they have it at home, they are trying to
14 do something good... in that case, I think that what we are saying is the bar for
15 someone to say 'biopsy or not' or 'melanoma or not' is exceedingly high. And we are
16 not convinced – at least, I'm not –that current technology is up to the task of saying
17 'biopsy or not,' 'melanoma or not.' If I may use a diversion example, it's like self-driving
18 cars. Sounds great in principle. If you read the predictions, 20 years ago should've
19 already happened, but we are still struggling with that because there's serious points of
20 failure, that, some were anticipated, and then to a point that I think was previously
21 raised by Dr. Rotemberg, there's a lot of known unknowns. And there's so many
22 unknown unknowns that the only device I would feel comfortable saying definitely is

1 okay... that situation is something that has 100% sensitivity and I'd be happy with it,
2 even if the specificity wasn't great. But, as you know, you can make a device that has
3 100% sensitivity tomorrow. You just make a device that, whenever you image, it says
4 'biopsy,' but that's not a very good device either. So, in that case. Now, if it's a case
5 where it's supplementing a dermatologist doing something, that's great. If I's not
6 primary prevention, where it's just someone who has a lot of these already thinking
7 "should I go in earlier because it's changing." highly sophisticated, maybe highly
8 educated, maybe a lower bar. But if it's going to be in the hands of somebody to look at
9 themselves, they don't have much knowledge, then we have to be really careful in terms
10 of what information is provided and, in particular, avoid anxiety, false sense of security.
11 Thank you.

12 DR. FARBER: And, if I may weigh in, thank you, Dr. Alam. This is Neil Farber.

13 DR. HARRIS: Excuse me. Can I make a quick comment because I don't want
14 other people who may have other things to say not have a chance to speak. Quick
15 comment and then we're going to move on. Thank you.

16 DR. FARBER: All I will say is looking at anxiety is not difficult. It's very easy
17 through different types of studies. And in addition, I don't know anybody who can quell
18 a patient's anxiety. It's something that needs to be dealt with.

19 DR. HARRIS: Great. So, very patiently, Dr. Suarez. Your comment, please.

20 DR. SUAREZ: Yes, thank you. These have been very appropriate. It seems,
21 being a non- dermatologist, that there's actually two layers, or frameworks, that one is
22 discussing. The actual accuracy of the device, for which I think the highest standards

1 and the best possible ground truth should be used. And then the whole other layer of
2 performance thresholds and all of that. That's more in the context of how it's used to
3 practice. It's almost there's two different types of studies that need to be done. And
4 those are going to be different according to, who is the user, and what's the definition
5 they are being used. So, on the perspective of what we are being asked to do and the
6 questions the panel needs to address, I was wondering, is that what the FDA has in
7 mind? There's a sort of a two layer process where there's a first layer, where you want
8 to make sure that the device is as accurate as it can be, then a second layer, where
9 new studies to address how these were performing in an actual work setting. For
10 instance, say we are talking about melanoma and the false negative rate if we have
11 primary care physicians that have sensitivity of 50%. So, there's going to be 50% of
12 melanoma missed. Even a sensitivity of 7% would be a vast improvement over what is
13 being the standard of care at this time. So, again, I guess my comment or question to
14 the FDA is, are we thinking in terms of two layers or two stages of studies, where the
15 first one is really about the accuracy of the device, but the second one is the actual
16 performance in real-world settings before a decision is made as to how these devices
17 are approved or permitted to be used.

18 DR. ASHER: Yeah, I think you raise an excellent point. Certainly we want to
19 characterize the capability of the device, and doing that in clinical trials in the pre-market
20 space is typically how that is performed. Although, more and more we are looking at
21 real-world evidence where it's possible to obtain it to help guide our regulatory
22 decisions. And then if there's questions in the post market, you know, the real-world

1 experience is very informative. Not only to confirm or better understand what the device
2 is capable of doing, but also as device manufacturers seem to modify the technology
3 and make iterations that are more useful for patients.

4 Since I'm answering this question, I did want to just circle back. I sincerely
5 appreciated the comments, as I think many of our team members did, about considering
6 the psychological aspects, the anxiety associated with the use of these devices. It cuts
7 both ways, correct? If you use the device and have access to an app, and you may not
8 have the resources to see a dermatologist, it may be that this reduces your anxiety.
9 Alternatively, you may use the skin lesion analyzer; it may heighten your level of
10 concern, causing greater anxiety. So, if you have specific suggestions or ideas on how
11 we might be able to even-handedly assess that and put that into context as we evaluate
12 whether a favorable benefit-risk profile exists, that would be very helpful. Ideally, such a
13 tool can be used not only in the pre-market, but also the post-market if we conduct real-
14 world evidence evaluations it could be nomenclature in our discussions pertaining to
15 these technologies. So, any such advice would be appreciated.

16 DR. HARRIS: Dr. Skelsey.

17 DR. SKELSEY: Thank you. It's Morrell Skelsey. In terms of real-world, I think will
18 be useful in terms of the FDA and companies is having an assessment of their reliability
19 and ability to diagnose other skin cancers. They may be completely unable to, but as a
20 dermatologist, we all know that patients feel they have – they report they've had a
21 melanoma and they might have had only a basal cell or squamous cell. Something
22 that's labeled as a diagnostic tool for melanoma has the potential to give people a false

1 since of security. In addition, there's tumors. Depending upon the patient population
2 they may be more susceptible to squamous cells and the device gives them a false
3 sense of security that they actually don't have a cancer. They don't have a melanoma.
4 I think it would be important in terms of discussions with the companies to give some
5 idea in terms of other common tumors that they are able to, perhaps, assess, but also
6 the uncommon pigmented lesions of the darkly pigmented -- for instance. Where does
7 that technology lie -- to make a recommendation at all regarding other tumors?

8 DR. HARRIS: Any comments from FDA?

9 DR. ASHER: We appreciate that comment. I think you make a great point, and
10 we will continue to keep that in mind.

11 DR. HARRIS: Next comment or question from Dr. Rotemberg.

12 DR. ROTEMBERG: I really liked Dr. Skelsey's comment because it goes to one
13 of the things that I also wanted to talk about now, which is what was brought up by Dr.
14 Adamson and Dr. Cohen around labeling. It does make sense for devices that are
15 marketed to laypeople or PCP's to not be as narrow as some of the devices that are
16 marketed to dermatologists, because it may not be possible for a layperson, as you
17 pointed out, to not know the difference between a pigmented basal cell or something
18 that is melanocytic, for example.

19 Another thing that I think is going to be important is how these devices
20 communicate to us. This is not in scope for this particular device. For a dermatologist
21 or provider, it may be sufficient to have that be in the label for a user of an app. It might
22 need to be more obvious, more automated, and more significant to say, you know, this

1 looks like an acral lesion, and we are not trained to identify acral lesions. This looks like
2 a patient with skin type IV or V that we have not had the training to analyze. It may
3 need to be more automatically communicated, this type of labeling, then we might
4 expect in our office or office tools. We do a lot of procedures that we already know the
5 expected population and the way that an app probably shouldn't. And so, I did want to
6 make sure that we talked about that and talked about labeling for specific indications
7 and to reflect the data that was used for training and validation.

8 The other thing that you brought up, Dr. Asher, that I think is really important, is
9 the post-marketing surveillance. One thing we know about AI tools is that they are
10 susceptible to data drift in a way that sometimes lab tests may not be. So, as for
11 example, cameras are updated; those cameras may not have been used to take photos
12 that are in the training and develop phase. How are we going to learn that the accuracy
13 of these algorithms have decreased over time due to drift? And I do want to suggest
14 that we think about a way to do post-market surveillance that continues to assess
15 models for accuracy and benefit the way that we suggest, over time.

16 DR. HARRIS: Any comments from FDA?

17 DR. ASHER: No. Thank you very much.

18 DR. HARRIS: Next, Dr. Bush.

19 DR. BUSH: Laura Bush here. My comments have already been touched on by
20 Dr. Rotemberg. Dr. Asher, I was going to ask you I see patients for days a week or
21 every week I think the devil is in the details on the labeling because if you're going to --
22 I'm just assuming let's talk about layperson having, say, an app. They come in and it

1 says I do agree it should not probably say biopsy or not. This is a concerning lesion,
2 seek care with a dermatologist or however you want to word that. But I think if someone
3 comes in and they have that information in their hand, then that is a whole other
4 conversation to say they may not need a biopsy or they may doctor shop until they get a
5 biopsy, so that may create a whole other can of worms, so to speak. I think you have to
6 look at this from who you are marketing which device to and if you are taking SLA's or
7 the whole group, are there SLA's that are laypeople, SLA's and for non-dermatology
8 people and dermatology people. Because very often someone will come and even from
9 referral from primary care they are just convinced they have melanoma and the lesion is
10 a sub K, but they may have a melanoma on their back, so it is somewhat of an
11 opportunity. I just think you have to be very, very careful on your labeling just not as to
12 hone that person into being... their hands tied on doing a biopsy. Or the person may
13 doctor shop.

14 DR. ASHER: One of the most avoidant things that FDA does is help characterize
15 risk, cause companies to help characterize risk, and communication. I think what you're
16 getting at is, how do we know that those risk communication efforts are effective or not?
17 So, if this group has recommendations along those lines, about how in the pre-market
18 we may anticipate places where risk communication may be an issue, and embed either
19 in the pre-market or post-market evaluations whether our communication tools are
20 effective and are causing the appropriate actions by both providers and patients. That
21 would be immensely helpful. This is one of our biggest challenges. In a complicated
22 area like this, we would love to hear your thoughts.

1 DR. HARRIS: Next comment is from Dr. Skates.

2 DR. BUSH: Can I respond to what Dr. Asher said real quick? Am I good? What
3 about if you had not only information on whatever device of what this possibly... sort of
4 an algorithm, or information sheet that spit out that they can actually take to their doctor,
5 or some sort of informative device that would hone them toward that, so that to guide
6 them in the correct decision, and maybe have an algorithm that was set so that they
7 followed the correct path?

8 DR. HARRIS: Okay. Dr. Skates.

9 DR. SKATES: So, I'd like to respond to some of the questions that FDA proposed
10 to us, which was one of them was should we effectiveness —

11 DR. HARRIS: Brief interruption. So, I think we are going to be talking about the
12 questions next.

13 DR. SKATES: Okay. All right.

14 DR. HARRIS: So, this is really our time. The next session we really won't have
15 an interaction with the FDA. We want their feedback. It will just be a discussion
16 amongst ourselves regarding the 3 questions. So, this is a great time to get any
17 feedback or responses from FDA.

18 DR. SKATES: I see. Well, I guess I was hoping to hear from Dr. Kaminski about
19 the risk-benefit ratio. I want to share, again, the screen, because the Nevisense link
20 was sent by Ms. Knowles, I think, to everyone. I've been trying to go through that while
21 having this discussion as well. I will just share Table 34 with everyone. And again, I've
22 highlighted the false negatives. There were 9 false negatives out of 265 positive. And I

1 believe this is – unfortunately I haven't had time to go through it – but I believe this is
2 melanoma. This gives you a sensitivity of over 96.5%, but it's not 100%. Dr. Burke said
3 we need to aim for 100%. With that rule, is that not sufficient? My guess is that
4 Nevisense is sufficient with 96.5% sensitivity. And then the cost ratio between the false
5 negatives... These are 9 false negatives, and I think these are melanoma. Melanoma
6 is missed by Nevisense to 956 false positives. These are negative for melanoma, but
7 Nevisense said they were positive. So, that's a ratio of 1 to 100. Instead of this
8 MelaFind with 700 fold, this is a 100 fold.

9 You know, the con, the way that the FDA had framed the questions was trying to
10 cut them up into quantitative benchmarks. I think throwing out numbers in the hope that
11 people start to either say, “it's too high, too low,” and come up with their own numbers,
12 but I haven't heard any numbers from anyone else as to: for each false-negative, for
13 each melanoma that you miss; how many biopsies would you be willing to do? Here,
14 the Nevisense is 100 biopsies and they miss one melanoma. In the MelaFind it was
15 one melanoma. This gets difficult because you are trying to balance patient anxiety
16 here, going to the doctor and resources with missing melanoma. It's a subjective
17 judgment that ultimately there needs to be some judgment quantitatively for what that
18 ratio is to then do a benefit risk analysis and come up with: in dermatologist's hands,
19 this is what happens, and if we do this for primary care, can we, with the addition of
20 SLA, get them to that same level of one in 100 false positives to one false negative? I
21 would like to hear from other panel members what their judgment is on that ratio of very
22 bad false information from the device, to not so bad, because it's a false positive and

1 they go into biopsy when they didn't need it. And, you know, saying 100% sensitivity, I
2 think there's no device in the world or no doctor in the world that's going to give answers
3 correct all the time. So, I think that's making the perfect the enemy of the good, and
4 what I'm trying to do is get a good value judgment, a quantitative judgment, on the good
5 here. So, that's it.

6 MS. HESSER: Dr. Rotemberg had made that some of these perspectives
7 patients say formative to us there may be a skeleton of patient preference...

8 [Indiscernible]

9 DR. HARRIS: Unfortunately, Ms. Hesser, we are having a little difficulty I think
10 with your Internet connection. You are breaking up. Okay. We'll need you to log in and
11 log back on. Dr. Block?

12 MS. BLOCK: Actually, it's Renata Block and I'm a dermatology physician
13 assistant. I'm very honored to be amongst all of you today in this Panel. I just wanted
14 to — I've been digesting that everything everyone has been saying and looking at this
15 from 14,000 feet and trying not to be in the weeds, as I always go into detail, this is
16 really a discussion of future devices and, as a dermatology physician assistant, I work
17 closely with my collaborating dermatologists. I feel that this isn't really — the SLA's are
18 not fit for laypeople at all at this time. Like Dr. Alam said, self-driving vehicles is a great
19 concept, and I think we will eventually be able to get there, but I think it would really do
20 more harm than good at the end.

21 I'm also concerned about — Dr. Bourelly made a good point. Not only will the
22 patient be coming and demanding biopsies or demanding other things, but it also poses

1 a liability for the practice in regards to these results. So, I think the focus is to really
2 have the FDA have strict regulatory guidelines for these devices. Unfortunately, apps
3 are going to happen. Google is going to happen. The patients are going to be utilizing
4 those tools. I wish we could have more guidelines with those as well, but I think they
5 already are happening, and the patients are going to rely on them. When it comes to
6 things like Nevisense and the other one, these are things that are very, very expensive.
7 I believe they are \$10,000. I can't see many practices investing in these, at least now. I
8 think down the line, once more technology is available and they are more streamlined or
9 maybe more affordable... and then we can re-assess whether they are able to be
10 utilized by PCP's and non-dermatologists. Just wanted to add that in there.

11 DR. HARRIS: Thank you. I think we have Ms. Hesser back. Could you make
12 your comments again, please?

13 MS. HESSER: Yes, thank you. I apologize for that. I was following up on Dr.
14 Rotemberg's comment on being able to capture some perspective patient information in
15 advance. The FDA does have a number of patient preference information tools already
16 developed. There is nothing that I found specific to skin cancer, but perhaps this is an
17 opportunity to be able to develop something that could inform us ahead of time as to
18 some of the psychological impact, the effectiveness of our communication tools, of risk
19 tolerance. Just wanted to offer that up having gone through some of those tools
20 already. Thank you.

21 DR. HARRIS: Thank you. Dr. Roth?

1 DR. ROTH: I just want to mention economic disparities is another kind of disparity
2 we have not discussed, and there are a lot of people who cannot afford to go to a
3 dermatologist if the dermatologists do not participate in insurance. It's sometimes very
4 difficult for people who rely on their insurance plans for a referral to access a
5 dermatologist. I would like — I understand the concerns about patient access, although
6 the direction is in favor of greater patient access, and most patients will be able to read
7 the doctor's notes after the doctor has written them on current electronic records. But I
8 have several friends and patients who are at high risk for melanoma, either because of
9 the close family member having had melanoma and/or having had a personal history of
10 melanoma, and I think everyone I know is Fitzpatrick 1 or 2. I think there might be a
11 role for patients training on a device, or select groups of patients training. I understand
12 that it's very difficult to diagnose, even for professional dermatologists. But there are a
13 lot of barriers right now, and I don't see that getting better for some people to access
14 dermatologic care and a dermatologic assessment. And there are rural areas where
15 dermatologists may simply not be easily available. So I think we should just consider
16 that as well.

17 DR. HARRIS: Any comments from FDA? In that case, we will go to Dr. Ballman.

18 DR. BALLMAN: I'm sorry. I thank you. I have been waffling putting my hand up
19 and hand down and not sure if my comments are meant for now or for the questions
20 later. All I want to say I – and especially for the layperson thing – I think for sure has
21 been mentioned, there needs to be prospective trials. I think it has to be shown that
22 there is an increased benefit-risk over what is currently available. Whatever and

1 however that gets measured – and there's been different measurements sort of tossed
2 out there – and it has to be above, and it has to be a randomized trial, I think. What
3 makes it really difficult in the layperson thing is, people that are going to participate in
4 those types of trials, we know for sure, are going to be very different from people who
5 are going to use these things in the real world once it gets released. So, I don't know
6 what sort of real-world studies the FDA has done in such situations, but I think that's
7 going to be very difficult. I think that whoever is going for the indication has to have — it
8 has to be clear to them that they need to do a really rigorous and detailed sort real-
9 world thing once it lands into the hands of the people. I think, in some respect, that's
10 going to be a burden and just high for these people, to the sponsors, to meet. But I
11 think it is necessary because once it gets out to everyone, they are going to use it
12 differently. There was some discussion about the technical sort of performance. I don't
13 know if it should be a two-step thing. Obviously the manufacturer has to have good
14 technical performance in the hands of experienced users, but this isn't going to be used
15 by experienced users. I think it should just go into the population, the clinical trial, and
16 whoever the intended is, for the laypeople. And then the real world is going to be
17 crucial in that group, because I think it's going to be — the results from the clinical trial
18 are going to differ much more in a layperson population than it would if dermatologists
19 are using it in a clinical trial, versus dermatologists using it after the clinical trial.

20 DR. HARRIS: Thank you. Dr. McGrath.

21 DR. McGRATH: Thank you. I have two specific questions for the FDA. We've
22 been talking all this time pretty much in the abstract, generally about future devices. My

1 question is: how close is this to actually being actualized? Are any manufacturers
2 currently actually asking you for review of devices that would be marketed to patients?
3 And what sort of thing are they asking? And number two, second question. Has
4 anyone at the FDA talked to primary care physicians about this? We saw the numbers
5 were all over the place in terms of their diagnostic accuracy on the earlier tables, but I
6 would be curious since most patients, as Dr. Roth pointed out, go to their primary care
7 physician with their skin lesion. What would that community, what is that community
8 telling you about this type of a device?

9 DR. ASHER: This is Bonita Asher. To give you an indication, you've heard many
10 of these are marketed outside U.S., so I think it's fair to say there's interest in marketing
11 inside the US as well. But we at FDA want to do our due diligence in making sure we
12 are asking the right questions, getting the right answers, and being thoughtful in the
13 level of evidence pertaining to these technologies. In talking to specific groups, it is
14 incumbent on a manufacturer to create a picture of how we might be able to justify a
15 favorable benefit-risk profile. Many of our manufacturers for any sort of device may
16 conduct focus groups or check with experts in the field to better understand how the
17 device might be used in their hands. What they see is, is in this niche market, a
18 favorable benefit risk profile in order to allow the manufacturer to identify an indication
19 that makes sense for them from a business perspective, and also design a study that
20 will address the needs from lead investigators and other thought leaders that are
21 familiar with the space. I'm unable to talk to you about specific interactions that FDA
22 has been having with manufacturers, but I can tell you that this is a very timely

1 discussion, and the questions that we are posing to you are the questions that we are
2 encountering or that we have been grappling with. Any detailed advice on how we may
3 address these questions, in turn, with the manufacturers would be sincerely
4 appreciated.

5 DR. HARRIS: Okay.

6 DR. ASHER: Let me turn to Dr. Chen. Is there anything additional on that topic
7 you wish you communicate?

8 DR. CHEN: No, you covered it. Thank you.

9 DR. HARRIS: Next comment, Dr. Alam.

10 DR. ALAM: Thank you. Trying to be brief just a couple of thoughts on what
11 others have said already. Again, I want to focus on the case of laypeople using this
12 device for primary prevention. To the questions that were raised about what specific
13 numbers for sensitivity by Dr. Skates, I will just throw some out. These aren't just my
14 numbers. There has been a small study done that's currently in submission for
15 publication looking at – and I know this because I was one of the investigators – looking
16 at family doctors and primary care physicians, dermatologists, and oncologists or non-
17 dermatologists, and asking them what levels of sensitivity and specificity they might
18 want in such a situation. They were given a lot of background data, such as, what are
19 the levels of tentative video, and specificity in the hands of dermatologists with or
20 without the currently approved devices, which as we know are around 90% or so.
21 Basically, they wanted more, which is what we were saying. And the numbers were
22 95% or greater across the board. I don't want to get into the minutia subgroup, but

1 that's kind of where it was. I can't think into the black box of why people said that; I
2 think the expectation is that a free-standing device should be better than a device that is
3 supplementing a dermatologists' inherent judgment. I think that's a number to consider.

4 Another thing to consider, I think you said, is how many things should be biopsied.
5 That turned out not... 100 or 700 – or I don't really know if that's the data we have,
6 somewhere in that range – I think would be fine.

7 I think one other consideration that we haven't discussed, which I think is
8 important for lay devices, is that we are talking about looking at specific lesions where
9 the patient is going to be – with their software or camera, maybe with their phone – and
10 they will be imaging potentially in some cases a specific lesion of concern. And then the
11 device will spit out something out, something whether it's benign or malignant, or goes
12 through a dermatologist. But there is evidence in the literature; specifically, a paper
13 from a couple of years ago showing that when patients go to see dermatologists
14 concerned about a certain device, more often than not there's a concerning lesion on
15 the patient, but it's not the one they thought was concerning. And, in fact, when you do
16 a complete skin exam, in the same study, they showed you often find many additional
17 concerning lesions that the patient was not aware of, which were not really on their
18 radar. I think there is going to be a lay device, they'll either have to have a disclaimer,
19 or better yet, some way of scanning much of their body or many of these lesions.
20 Because otherwise, sitting at home, they might scan something that is not concerning
21 and then be very confident that they don't have skin cancer, because they didn't scan
22 what was really problematic. So, I think that is another consideration.

1 And I think the last thing I think with regard to anxiety, and this is obviously
2 probably beyond the purview of our discussion today, but I think it's a legitimate
3 concern that if such devices proliferate, people will want to go for management of
4 something that's been highlighted as suspicious, and that might cause a backlog in
5 dermatologists offices. I know this has sort of not been done historically, but it might be
6 worthwhile to ask manufacturers or software developers that are aiming for FDA
7 approval to come up with a plan as to how they're going to facilitate that process and do
8 they have a group of dermatologists or are they affiliated with some entity that can
9 facilitate referrals in a timely manner. For the non-dermatologists on the panel, I also
10 want to make a point, which is that usually it's important to be screened to detect these
11 lesions, but there's seldom deadly urgency. So, it's not a matter of having to get in in
12 two or 3 days. If you got it in a month or two, nothing much would be lost. The
13 problems arise when you get it in a year or yea. Just to get a sense of what the
14 threshold should be. Thank you so much.

15 DR. HARRIS: Next, Dr. Burke.

16 DR. BURKE: I just wanted to again address some of the points that Dr. Skates
17 brought up, that there is this 700 to 1, or 100 to 1, ratio of false positives. But
18 remember, if we just use the synonym of false, positive suspicious lesion. So, and
19 within suspicious lesion there is a great degree of suspicion, and perhaps, in the data
20 that both of the devices used, they included many lesions of very low suspicion that
21 probably no dermatologist would biopsy because it's so close to benign. So, we don't
22 — I mean, it's just difficult with the statistics to judge. And then also, lesions that were

1 maybe in the top two-thirds, and certainly the top one-third of suspicious... Remember,
2 some of them do show that they are, in fact, dysplastic nevi, and that is a diagnosis that
3 carries a clinical weight for prognosis of a patient. So, it's just difficult to judge, and I
4 really appreciate Dr. Skates doing the analysis so we could more clearly understand.
5 The other thing I want to point out, the devices we are evaluating are very far from being
6 for the layman. The MelaFind cost on the order of \$59,000 and Nevisense costs I think
7 on the order of \$5000-\$10,000. I just don't think there are very many primary care
8 physicians that even might want this in their office, and certainly pharmacies might have
9 people that can measure people, check people's blood pressure for their percent of
10 oxygen, which are also at-home devices that are used commonly. But I think we are
11 very far from the kind of home device, except in this evaluation, we know that other
12 home devices are coming, and that Google has parameters that doctors totally don't
13 agree with. There's this huge spectrum, but I don't think these particular devices that
14 we are evaluating today would practically, in a real-world situation, would be available to
15 a lot of laypeople, let alone if we are also talking about economic disparity and rural
16 areas – I don't think in a rural area there's going to be a center that will purchase either
17 one of these devices.

18 DR. HARRIS: Thank you. Dr. Rotemberg.

19 DR. ROTEMBERG: Just a few very efficiently-worded comments. First of all, for
20 melanoma detection, I agree. The standard for detection should be high, but I would
21 challenge us to be ambitious. I think the standards for specificity should be high. And I
22 don't think that exactly the way that this ratio is presented encompasses an ideal

1 scenario of triage for a layperson. 90 to 95% sensitivity is reasonable, but we should
2 probably have a specificity that's also fairly high. Again, it should be tested in a real-
3 world setting in a prospective trial.

4 In terms of the numbers that we've been talking about, 100 to 1, 700 to 1 in the
5 hands of dermatologists were primary care physician, I again do not think these are
6 reasonable to evaluate in a vacuum. If you are improving the detection of melanoma in
7 a primary care provider and you are measuring that in a prospective real-world setting,
8 that number, practically, might not matter. We might just be able to say a 10%
9 improvement in sensitivity and a 10% improvement in specificity... when the baseline
10 comparator is the standard of care is a better way to judge this than the 100 to 1 or 700
11 to 1. I also think in that study that you showed, Dr. Skates, the overall biopsy ratio was
12 something like 1400 negative 300 positive melanoma. So, that's closer to 4 to 1, which
13 is what dermatologists were doing in that study. Again, these numbers are not taking
14 that full picture of how to improve what the patient is currently experiencing. And that's
15 what I think we should truly measure.

16 DR. SKATES: And all I'm asking is for people to put numbers on that and not
17 expect that from people. It's a hard thing to do, but I think it's a better scale to do it on
18 the specificity scale. I think the specificity scale is immediate result, and all we should
19 start with is some ratio, or some judgment about how bad these risks are to each other.
20 So, missing a melanoma or doing a biopsy that's not needed, or some other judgment
21 about what's bad here about outcome. That's the risks and we need to combine them in
22 a weighted way, because one is much worse than the other. And we need to compare

1 that with benefits of a true positive and true negative. And my reason for quantifying
2 that is that gives us a bar for primary care physicians to try and meet with the aid of SLA
3 and get them somewhere in the realm of a dermatologist.

4 DR. ROTEMBERG: Right, and a dermatologist is somewhere between 2 and 30
5 benign melanocytic lesions to one, not 700.

6 DR. SKATES: To one false negative?

7 DR. ROTEMBERG: You are right, to melanoma. We don't have the false
8 negative rate; we don't have any way to measure. That's one of the challenges we
9 have.

10 DR. SKATES: The 2 studies did give false negative rates.

11 DR. ROTEMBERG: Of the devices, but that is not a dermatology exam. So, we
12 don't know what is missed by the dermatologists, because those lesions may not have
13 been photographed.

14 DR. SKATES: Well, okay. Of the ones that were photographed, I guess —

15 DR. ROTEMBERG: Right, but that's already a selected population.

16 DR. SKATES: Right, so, in a prospective study you're going to have to select a
17 population of nevi, and then you're going to have to draw a line. I'm just trying to come
18 up with a rational way of trying to draw that line, rather than just saying 90% specificity
19 or 80% specificity. Those are very arbitrary numbers that don't really make a good
20 judgment as to how safe the device is. I think comparing it to how dermatologists, or at
21 least dermatologists in the studies that are prospective studies that we publish, that's
22 one bar, and I think it's a reasonable bar. Thanks.

1 DR. ROTEMBERG: I think that makes sense. I think we could should take into
2 account the current standard of care in the improvement we can make over what's
3 currently happening, in addition to those numbers where you are creating the weights.

4 DR. BURKE: I keep wanting to make the very important point of the false
5 positive. Some of them are diagnostically important, like dysplastic nevus and severe
6 dysplasia. If something comes back as a dysplastic nevus with very abnormal cells,
7 most of us re-excise it, but not always. I just want to point out that the false positives of
8 the essay, 100 to 1 or 30 to 1, some of them give us information that is of clinical and
9 prognostic importance.

10 DR. SKATES: And so, I wouldn't call those false positives. I will call those true
11 positives. I would ask what your definition of a false positive is and see what the ratio of
12 false positives to full negatives in the study is based on your definition. And we need to
13 get —

14 DR. BURKE: as I understood it, the only positives are malignant melanoma or
15 melanoma in situ. Not the dysplastic nevus.

16 DR. SKATES: Yeah, I'm using that as an example. If your definition of a false
17 positive is different from theirs, then that's fine. I'm suggesting that this is a way to try
18 and say safety levels a dermatologists achieves and that would be a reasonable bar for
19 PCP with an SLA to achieve.

20 DR. HARRIS: Okay. I'm going to have 2 more comments by Dr. Suarez and Dr.
21 Skelsey and then I think we can move into the discussion of actual questions, which I
22 think is overlapping with the discussion we are having now.

1 DR. SUAREZ: Thank you very much. My question was also related to the false
2 positive rate that is seen in clinical practice. I guess the 1 to 4 rate that Dr. Rotemberg
3 mentioned is what is seen in the real world as practiced by dermatologists. Perhaps
4 someone could tell me also how that does translate to the false positive rate. I'm just
5 curious, because it seems the specificity that's being mentioned by the FDA of 80% — I
6 mean, it's much higher than what's actually seen with general practice anyway. We
7 have to pull the numbers together to get the 1 in 4 for the false positive rate, but you
8 have those numbers. What's a false positive rate of biopsies in general practice as we
9 see it now?

10 DR. HARRIS: Can anyone respond to that question?

11 DR. ASHER: I'm sorry. This is Binita Asher. You are asking — can you repeat
12 your question? Is it pertaining to...?

13 DR. SUAREZ: In current standard of care, the biopsies that are being sent — let's
14 talk about melanoma to make it less confusing. With a potential diagnosis of
15 melanoma, what is the false positive rate?

16 DR. ROTEMBERG: I can briefly answer that just based off the number of biopsy
17 that we have. It's not perfect, as we already discussed already with Dr. Skates; it's very
18 difficult to know what the sensitivity of a dermatologist is, because we only know what
19 they selected for biopsy. But approximately somewhere between 2 benign to 30 benign
20 lesions are biopsied for every malignant melanoma, and this is only to rule out
21 melanoma. And then for lesions for non-melanoma skin cancer, it's much more difficult
22 to analyze that data, but dermatologists are, in practice, probably significantly more

1 specific, and the number is probably closer to 1 in 2 to 8 in 10. That's more
2 approximate. But the non-melanoma skin cancer skills of a practicing dermatologists
3 are very high. And it reflects exactly this cost-benefit analysis that dermatologists are
4 making in practice that Dr. Skates is pointing out to. We are much more willing to
5 biopsy a lesion that is suspicious for melanoma than non-melanoma skin cancer.

6 DR. LEE: This is Henry Lee. Also to answer your question, not from a number
7 need to biopsy perspective, but from sensitivity and specificity... With regards to my
8 presentation on the Cochrane systematic review, they found that providers with
9 experience with thermoscopy have a sensitivity of 92% and specificity of 95% for
10 melanoma. That specificity of 95% would correlate with 5% false negative rate –
11 positive rate, excuse me.

12 DR. HARRIS: Okay. Dr. Skelsey.

13 DR. SKELSEY: Thank you, it's Maral Skelsey. One discussion I want to make
14 with companies is inquiring whether or not the technology can assess evolution of a
15 lesion. I think it's important to recognize we know from the literature there's a body of
16 melanoma that is featureless and just having a discussion with these companies about
17 whether or not some lesion can be monitored I think is important because it would
18 increase potentially both the utility and safety of that particular device. The other issue,
19 unrelated, is to making sure the data is representative of a robust set of intermediate
20 lesions. Looking at some of the prior data, they are very heavy on definitely malignant
21 lesions and unequivocally benign lesion. Having a robust selection of that intermediate
22 morphology I think is critical for a useful technology. Thank you.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FDA QUESTIONS

DR. HARRIS: Okay. Excellent discussion. A lot of nuance and complexity here, especially for a non-dermatologist. We are going to move on now, if you will, to the questions session. We are going to focus on the FDA questions, and, Panel members, copies of the questions are in your Panel packs. I asked that each of you identify yourselves each time you speak to facilitate transcription. Can we please show the first question and have it read by FDA?

MR. ANDRIANI: Good afternoon. My name is Rudy Andriani. I'm a mechanical engineer and Lead Reviewer at the Office and General and Plastic Surgery devices on the Cancer Diagnostics and Treatment Team. The agency has 3 questions to the panel covering potential metrics for group truth, user accuracy, and generalizability to the full U.S. population. For the purpose of this meeting, we define ground truth as the gold standard that will be used to determine the diagnosis of a lesion, and re-define accuracy as the measured sensitivity and specificity of a device compared to ground truth.

In clinical trials for diagnostic devices, accuracy is assessed by comparing the device output to the ground truth. For skin lesions, particularly when reeling out malignancy, clinical practice has traditionally relied on histology for ground truth.

FDA has requested that histological diagnosis (core specimen processing with a consensus diagnosis from an expert dermatopathologist panel) be used for ground truth because it provides the greatest certainty in diagnosis.

1 Device developers, however, cite concerns, both practical and ethical, in requiring
2 biopsy of all lesions, particularly those that appear benign. They have proposed
3 alternate means of defining ground truth, including consensus opinion of experts (of
4 visual or dermoscopic examination of the lesion(s)), opinion of one expert visual or
5 dermoscopic examination), or other methods.

6 One, should histological diagnosis be required for obtaining ground truth
7 diagnoses and all lesions of skin analyzer clinical trials? Two, are there scenarios for
8 which alternate means or a combination (for example, histopathology for suspected
9 malignant lesions and consensus opinion of experts for suspected benign lesions) of
10 ground truth that would be acceptable?

11 DR. HARRIS: Okay. So, I will open the floor for discussion as we try and
12 formulate an answer for the FDA to ask question. Dr. Rotemberg.

13 DR. ROTEMBERG: Thank you. I would say I agree with histopathologic
14 diagnosis of malignant lesions. I think there's a big risk for over-diagnosis and other
15 issues if we do not use pathology for malignancy. However, I agree, and I think this
16 point was made in the earlier session, that, in order to have devices that perform well,
17 we need a large amount of benign lesions for training and validation. And so, there are
18 — I would favor a hybrid approach. For melanocytic lesions, habitually, we have done 6
19 to 12 months of lesion monitoring with no change is a potential gold standard, in
20 addition to three-expert consensus. It would be important for trials to a priori decide
21 what to do for lesions where there is not consensus, and there I would also consider
22 histopathology.

1 DR. HARRIS: Okay. Dr. Alam.

2 DR. ALAM: I would agree that histopathology is necessary — sorry, Dr. Alam. I
3 would agree it's necessary in a study format. I would go a little further – and I
4 understand, historically, studies have just tracked benign lesions to make sure they are
5 not malignant – but my strong preference would be for those to be biopsy as well. As
6 we've heard before, there are melanomas that are clearly obvious and there are ones
7 that are clearly benign. The problem, at least in the context of a dermatologist-assisted
8 diagnosis, is to detect the ones that are not obvious. So really, the biggest threat we
9 have is the benign-appearing lesions, some of which are actually malignant. Now, if we
10 look at the benign-appearing lesions and we say, “Yep, they look benign to all of us,”
11 and they still looked benign to us 3 or 6 months later, I think that's sort of us proving that
12 we agree with ourselves. It doesn't prove much else unless it's a nodular melanoma,
13 and it's not – God forbid – going to kill anyone in 3 to 6 months. It might not do much
14 else in 3 to 6 months, but it still could still be meeting criteria for being malignant. So,
15 my preference would be to biopsy those as well, or if it's not feasible to biopsy every
16 single lesion designated as benign, at least biopsy a certain proportion of them. If it's a
17 large enough sample, that would give you an indication as to how many you might be
18 missing by not biopsying them. If you think about it, the dermatologist is the gold
19 standard, then by definition, every dermatologist is perfectly accurate. Then, you are
20 comparing apples to oranges, because you are comparing dermatologists to
21 histopathology, and you're comparing this app to dermatologist. I don't think this is a
22 reasonable bar. I think histopathology is a well-defined standard, and I think deviating

1 from that is a terrible idea. I think that's a standard of care issue and, quite frankly, is
2 well beyond the purview of this Panel, or for that matter, FDA, to weigh in on the
3 standard of care. That is practice of medicine issue determined by practitioners and
4 regulated by States. That's just the way that is, and until that changes, that's the gold
5 standard we have to work with. Thank you.

6 DR. HARRIS: Okay. Dr. Farber.

7 DR. FARBER: Thank you very much. Neil Farber. I would separate this out. It's
8 clear that any kind of melanocytic lesion has to be biopsied because, not only in terms
9 of the idea that you want to have enough biopsies to be able to standardize the SLA,
10 but also because of the fact that I think it wouldn't be ethical for a patient to be watched
11 for a period of time when there was a possibility of a melanoma being present.
12 However, on the other side, if we are talking about lesions that are suspected of being
13 either BCC or SCC, I have concerns about those patients being biopsied, simply
14 because of the fact they are in this study. Certainly, there would be informed consent,
15 but many of the patients might not opt, then, to be in the study because of that, and/or
16 they would still be in the study but be biopsied perhaps just because they are in the
17 study. With those, I think perhaps some portion of them – and I would leave to the
18 dermatologist to decide who might need to be biopsied – but the others could be
19 watched, or an expert panel deciding what to do with those patients.

20 DR. HARRIS: Okay. Next, Dr. Ballman.

21 DR. BALLMAN: This is Carla Ballman. Going along the lines of what was
22 indicated before, I really think it depends upon what the purpose is for what they're

1 going for indication. If we take just the melanoma case and the study is intended for
2 lesion suspicious for melanoma to determine whether to biopsy or not, I think it's
3 essential that all lesions be biopsied or go through histopathology. I think it needs to be
4 driven as to what the intended indication ultimately is. And we are talking about the
5 pivotal clinical trials, not the training and test sets that went beforehand. That's all
6 separate; I'm talking about the pivotal clinical trial and for lesions suspicious. For
7 melanoma, I think they do need to be biopsied and undergo histopathology.

8 DR. HARRIS: Thank you. Dr. Bourelly.

9 DR. BOURELLY: Thank you. Paula Bourelly, private practice. Really quickly, I
10 really like what Dr. Alam said: you really are comparing yourself in January to yourself in
11 May in terms of your criteria for biopsy. I would feel more comfortable if you decide to
12 monitor – if you had a separate dermatologist check it in four months, but that's beside
13 the point. I'm also in favor of histopathology. This is my point from earlier in the
14 morning when I was talking about including brown skinned people is not to look for the
15 needle in the haystack melanoma. It's to make your algorithm smarter. I think when
16 you are biopsy benign lesions you are making your algorithm smarter. I know that's
17 bleeding into another question, but I vote for histopath, even in the things that look very,
18 very benign. I assume we are not going to be biopsying a bunch of seborrheic
19 keratosis; that would probably be the only exception I would say to having a panel of
20 derms say, this is clearly subderm that does not need to be biopsied. Thank you.

21 DR. HARRIS: Dr. Skates.

1 DR. SKATES: Steven Skates. With histopathology, you've got a gold standard
2 accepted by everyone. When you implement some undergoing histopathology and
3 some ongoing consensus, how you divide that into is going to be likely variable from
4 one study to the next and it's going to be rather difficult to compare between studies or
5 between devices under that sort of study design. So, I vote strongly in favor of doing
6 histopathology on everyone.

7 DR. HARRIS: Okay. Dr. Rotemberg.

8 DR. ROTEMBERG: Thanks, everyone, for their comments. I think I should clarify
9 that I agree anything that is suspicious in any way clinically should, of course, be
10 biopsied. I think what I would argue in terms of the nuance of what Dr. Ballman was
11 saying, is the intended use setting is very important. If there are truly benign lesions –
12 seborrheic keratosis is a perfect example, Dr. Bourelly – we are talking about reviewing
13 a gold standard for all sorts of use cases. The use case of the layperson with lots of
14 seborrheic keratosis, I really think should be considered separately, and I do not think
15 those lesions need histopathologic review if there is monitoring without change, or with
16 only benign change, and a consensus panel of dermatologists that agree the lesion is
17 totally benign and does not need to be biopsied. I would consider that to be sufficient
18 for anything where there is any kind of question about whether it's cancer, or specifically
19 and especially melanoma. Of course, that needs to be considered differently. But
20 realistically, especially for very easily benign cases, I think we need to consider as a
21 Panel an alternative to histopathology, especially because we want to have a lot of
22 those lesions in a clinical trial to validate the specificity of the algorithm. So, it further

1 increases the amount of biopsies and benign lesions we would be suggesting to do, if
2 we keep that criteria fixed.

3 DR. HARRIS: Dr. Bush.

4 DR. BUSH: Thank you, Dr. Rotemberg. That's kind of what I was thinking. If it's
5 something that you are on the fence for melanoma, and you are truly considering that
6 diagnosis, I'm full in favor of histopathological diagnosis. But I take into account what
7 you said regarding extremely benign lesions. If the true intended use for this is, we are
8 thinking this is a melanoma, then I'm all in favor of histopathology.

9 DR. HARRIS: Dr. McGrath.

10 DR. MCGRATH: I also favor a hybrid model with histopathology, but also, with 2
11 codicils to this that would be very specific. One would be if the lesion appeared to be
12 benign, there should be a clear delineation of who decides that. Is the investigator
13 dermatologist or does it necessarily in the clinical trial have to be a panel or whatever,
14 but that would have to be really carefully spelled out about these lesions that appear
15 benign. And secondly, to add for those that are not biopsied and appear to be benign,
16 that there be some defined follow-up required as part of the clinical trial.

17 DR. HARRIS: Okay. Dr. Skelsey.

18 DR. SKELSEY: Thank you. Maral Skelsey. As said, it's a minimally invasive
19 procedure. I think it's important for us not simply to have consensus amongst ourselves
20 within the field of dermatology. We are not infallible, and I don't think there's any point
21 in putting... the data coming out is only going to be as good as the data that is put in.
22 As Dr. Bourelly said, we would like to make these algorithms better. So I'm strongly in

1 favor of the initial studies obtaining a biopsy of even frankly benign lesions because, as
2 we all know clinical experience there are times when a lesion is biopsied and there's
3 very low suspicion. It's just removed, for instance, and I think for purposes of the study
4 is important to get histopathology, and all that remains the standard.

5 DR. HARRIS: Going to disrupt the sequence for a minute and ask, I believe it
6 was you, Dr. Farber, who were voicing concerns about the biopsy of benign lesions.
7 Could you talk a little further about what the downside of that element of the protocol
8 would be?

9 DR. FARBER: Sure. It was me. There's perhaps an ethical concern, as well as
10 practical concern, and it's a minor risk, granted, in terms of biopsy. But in fairly certain
11 benign lesions, the only reason you are biopsying the lesion is because you want the
12 data to standardize the protocol for the SLA. Some patients may not then be interested
13 in participating in the study. Others would participate and there could be a challenge in
14 terms of the fact that you are doing things to a patient where it's unnecessary. At the
15 very least, the informed consent needs to be much more rigorous for those patients.

16 DR. HARRIS: Thank you. Dr. Alam.

17 DR. ALAM: Thanks, everyone, for their comments. My concerns about not
18 having everyone biopsy... First of all, to address the Dr. Farber's risk: he's quite right.
19 People would get biopsies who don't need it, and I agree that would have to be in the
20 consent form. I also think it's a relatively minor risk. It's not unlike someone being in a
21 study who gets a blood draw every Tuesday. Are all of those blood draws necessary to
22 track the change, and whatever parameters are being tracked? Probably not, but I think

1 it's on the same level as a blood draw. I don't think it's a major hazard. I think it would
2 be possible to explain to a reasonable patient what this entails, and it would be possible
3 for them to understand what it entailed. I mean, it wouldn't be exposing them to a risk
4 that was more than they anticipated and could cause them inadvertent grave harms. I
5 think that can be managed, and one thing we are getting at here is inconvenience of the
6 study. Yes, it would be inconvenient to explain that to everybody. Yes, some people
7 might say, "I don't want to be in the study," but I don't think inconvenience to the study
8 sponsor is a compelling argument to change the study design.

9 In the same way, I have a concern about not biopsying every lesion.
10 Theoretically, I do understand and obviously agree that something that is obviously
11 seborrheic keratosis, and a whole panel of dermatologists agrees, maybe we don't need
12 to biopsy. But – and this is a big but – this is clearly benign, this is clearly malignant...
13 There's all this stuff in the middle. My concern is we create this concern where certain
14 "benign" lesions don't need to be biopsied, that bar will be moved to accommodate the
15 convenience of the sponsor to make the study more feasible. And pretty soon we will
16 be missing a lot of lesions that really we should have biopsied. I think the dangers are
17 twofold. On the one hand, we might biopsy some benign lesions that really probably
18 didn't need biopsy. Okay, it's a little inconvenient. People got a tiny little ouchy due to
19 that... not great. Still a bad thing, but I think that's less bad than not by biopsying
20 benign lesions that were melanomas that were then missed. It's very, very difficult for
21 us to know without histopathology which camp they fall into. We've all been deceived in
22 our practices. Even very experienced dermatologists cannot tell this with perfect

1 accuracy. So, while theoretically I agree there could be some group that we don't
2 biopsy, I think for practical purposes, it's better to do it, because there's less risk of
3 setting the threshold incorrectly and getting bad data.

4 DR. FARBER: If I can very, very briefly respond to that. I concur that in able to
5 get a better look at the performance of the SLA that you may need to biopsy everybody.
6 However, I think it needs to be very clear to the manufacturers that they have to be very
7 careful with the ethics of doing that in terms of assuring the patient knows they are
8 being biopsied for the purposes of this study. Not necessarily for the purpose of their
9 diagnosis.

10 DR. HARRIS: Dr. Roth.

11 DR. ROTH: This is Carolyn Roth. Actually, I think it might be more complicated
12 to write a consent form in which you had to explain why some people would not be
13 biopsied I think the consent form goes both ways. If we are trying to develop an AI tool,
14 I think the gold standard is histopathology. So, I favor for the reasons the last speakers
15 said: just biopsy, doing biopsies on everyone, and that will improve your data set and
16 hopefully improve the accuracy of the device.

17 DR. HARRIS: Thank you. Dr. Ballman.

18 DR. BALLMAN: I'm becoming convinced, in a study with the accuracy of device,
19 that even benign should be biopsied, and eligibility criteria can be set so that certain
20 lesions are excluded upfront, that you for sure know are benign. And my question is: for
21 a matter of inconvenience, at least for the patient, is it more inconvenient to have that

1 biopsy – to keep coming back as part of the trial to be reassessed – if you are not going
2 to biopsy?

3 DR. HARRIS: Ms. Block.

4 MS. BLOCK: Okay. We have all done biopsies. We have all worked with
5 dermatopathologists, other pathologists. We all have biopsied benign and malignant
6 lesions. Why cannot we create a bank that these companies can use? Because, in the
7 end, we are benefiting the patients, and this is to obviously diagnose skin cancers. That
8 being said, I've been doing this for 20 years. I have 20 years of biopsies, probably at a
9 lab, that are obtainable. Is there any way to obtain these biopsies, obviously contacting
10 the patients that have had them? I get patients coming in and saying, "I want this mole
11 removed." It's benign. Anything that I take off, I sent to pathology, and I let the patient
12 know that, even though I know it's benign. It's kind of like a comfort zone, obviously for
13 me, my practice, and my patient. So, is it bad to ask for that pathology bank? Because
14 artificial intelligence is going to be as smart as what we put in it, and I know a bunch of
15 you have said that. So, the more data that we have, the more data we can share with
16 that system and be able to save lives.

17 DR. HARRIS: Okay. Next comment, Dr. Skates.

18 DR. SKATES: Hi, Steve Skates. I would just like to share the results from the
19 MelaFind study. This is definitely melanoma and cannot be ruled out the middle panel
20 here, and definitely not melanoma. At least, definitely not melanoma according to the
21 dermatologists. There are 83 of them. When you went to dermatopathology, there
22 were 2 out of the 83 that were melanoma, that the dermatologists were convinced were

1 not melanoma. So that's part of the reason why I very much strongly favor
2 dermatopathology on everyone entering into the study and having that as that's part of
3 the reason why I very much favor dermatopathology on everyone entering into the study
4 and having that as part of the criteria for eligibility.

5 DR. HARRIS: Thank you. Dr. Bourelly.

6 DR. BOURELLY: Thank you. Paula Bourelly, private practice. I have a comment
7 of my own, and I wanted to respond to something Ms. Block just mention. If you
8 required his towpath banking and all the biopsies from 20 years ago, if you don't have
9 your analysis within SLA ahead of time, it feels to me like you only get one side of that
10 equation. You can have the path, but you haven't previously evaluated them with the
11 device, so I just think that might be what's missing there. My own comment was, again,
12 in favor of histopath, even on things that look benign. I think what you will end up doing
13 if you choose not to do that is missing a real opportunity to include all skin types. Again,
14 I'm in favor of that, because you're going to look at somebody who's a skin type 4 and
15 say, "it's dark and it's irregular, but the odds of it being melanoma are probably low."
16 Well, I want to know what a dark irregular nevus looks like in an SLA setting someone in
17 someone who has a skin type 4 or 5. We already said those are the patients who are
18 hardest to get into the office, because they are not traditionally coming in for mole
19 evaluation. They are coming in for sebderm; they're coming in for eczema. I think if we
20 choose not to include all folks, we are really going to miss an opportunity to increase our
21 pool from skin type 1 to 6, even though obviously, 6 is not going have as much cancer,
22 but 6 is going to keep your algorithm how to look at a 6. Thank you.

1 DR. HARRIS: Dr. Asher.

2 DR. ASHER: Thank you. I appreciate the discussion. This is been helpful. My
3 apologies in advance for pressing on this issue, but I want to make sure I capture your
4 thoughts on the record. If I'm understanding correctly, your comments essentially are,
5 for the most part, to rely on histopathology under almost all circumstances, except for
6 benign lesions. So, if manufacturers in a hypothetical situation come to us and say,
7 "Our device is not intended to diagnose; our device is simply a resource or app or
8 something that's easily attainable my patients to tell them whether or not to see their
9 provider. It's additional information in addition to their own judgment; in addition there's
10 many downstream steps that happen in that process before you even think about
11 biopsy, no biopsy, melanoma, not melanoma. We are just telling people whether or not
12 to go see their doctor." In those circumstances, please understand, when we are talking
13 about skin lesion analyzers, it includes the entire spectrum. This group at the end of the
14 spectrum, what is your advice there? Am I to assume that this conversation pertains to
15 that category in that situation as well? Thank you.

16 DR. HARRIS: Dr. Rotemberg.

17 DR. ROTEMBERG: I think that's exactly what I was going to address. I think
18 there's a very big difference between skin lesion analyzer in the hands of a provider and
19 one in the hands of a layperson, and we've discussed that at length already. In the
20 hands of a provider, especially a dermatology provider, I agree that biopsying
21 everything is probably appropriate, because this is where we don't know the answer and
22 where we want to skin lesion analyzer to help us.

1 Now, when we talk about a layperson and a lay user, I think it becomes a lot more
2 complicated, because the scope of the trial is going to be much broader, and biopsying
3 all those lesions... I would like to disagree with Dr. Alam, especially if we want to
4 include uncommon anatomical sites, feet, faces, patients who might have a higher risk
5 of scarring... This is not the same as a blood draw. We should really be careful in
6 lesions where everyone on this Panel would agree that something is benign, that we are
7 ethical in our approach to whether or not we are biopsying those lesions.

8 Dr. Asher, when we talk about apps that are going to be patient-facing, we have
9 two questions: one of them is sensitivity, which we discussed, and the other one is
10 specificity and overwhelming referrals to sub-specialty care. We have to balance those,
11 and if we allow consensus review for suspicious lesions, we run the risk of
12 overwhelming dermatologists and performing studies where not even one of those
13 lesions is melanoma. I've seen multiple of those studies, and they actually include zero
14 melanomas, and they only include suspicious lesions. That would completely
15 overwhelm sub-specialty care. So even for triage, I would argue that malignant lesion
16 histopathology should be the gold standard, but we should be more flexible in our clear
17 benign cases.

18 DR. HARRIS: Okay. Dr. Burke.

19 DR. BURKE: I absolutely agree with what was just said. Practical sense says we
20 shouldn't biopsy things that are just so clearly junctional or compound nevi, or some
21 cases that are just so absolutely certainly that. Patients come in with many, many
22 compound, or older patients come in with hundreds of seborrheic keratoses. Where do

1 you stop in the biopsy of a benign lesion? It isn't quite equal to a blood draw. I think all
2 the points that were just made is what I was just making. If there's a minuscule
3 suspicion, then, of course, it should be biopsied and, again, gold standard should be
4 histopathology. But I think it has to be hybrid or not biopsying things that are absolutely
5 clearly benign.

6 DR. HARRIS: Okay. Dr. Suarez-Almazor?

7 DR. SUAREZ-ALMAZOR: Thank you. I was also in favor of a hybrid approach,
8 because it seems that the accuracy studies may be running different populations. We
9 all understand that histology is the gold standard, so if it is high risk with a high positive
10 predicted value population, it should be required for that study. But if it's a study that
11 includes larger numbers of people with benign lesions, because it's going to be for a
12 device for general use by lay populations or whatnot – in that case, I think that this study
13 could be done with the hybrid approach I suggested before.

14 DR. HARRIS: Thank you. Dr. Alam, do you have another comment?

15 DR. ALAM: Yeah. I just wanted to address the issue that Dr. Asher had raised. I
16 can see we're all struggling with what to biopsy and what to not. Some considerations
17 for study design are that we are concerned that by biopsying everything, we are
18 potentially causing some patient harm, but that can obviously be adjusted. We don't
19 have to skin patients alive or take every mole off of them; there can be some way to
20 mitigate how many biopsies at maximum a particular individual could receive, which
21 would sort of compensate for that risk. But I'm still in favor of more histopath than less.
22 To your issue or question that... would a study or device that wasn't purporting to make

1 a strong claim to identify malignancy, but rather just a screening device of sorts for the
2 lay public to know if something is suspicious or not... I do not think I would lower the
3 bar in that case at all, because I think what you're going to end up with, from a practical
4 standpoint, is an end run around the FDA regulations. Everyone is going to get that sort
5 of device and prove whatever has the lowest possible bar. And, in their advertising to
6 the patient and the public-facing, will sort of indicate it was FDA approved, and nobody
7 will figure out the nuance between the fact that it wasn't really designed to detect a
8 melanoma, it was really just design to detect a suspicious lesion. I think you should be
9 very cautious in having this bifurcated approach, because I suspect, from a practical
10 standpoint, everyone is going to want this 'weaker' indication, but it's not going to
11 publicize that indication as weak when it comes to marketing it. You're going to have a
12 lot of difficulty communicating to patients, even to physicians, that some apps are better
13 than others, and some apps are only doing this, but some apps are really, really telling
14 that something is a melanoma... I think that's nuanced; that's very, very subtle, indeed.

15 DR. HARRIS: Thank you. Dr. Ballman.

16 DR. BALLMAN: Yes, this is Carla Ballman. Again, I've been wavering on whether
17 or not to raise my hand. In respect to the case of the app at home, and it's just to tell
18 someone whether or not they should go to the dermatologist... I think it all depends
19 upon what the performance metrics should be for that trial, and I think that should be the
20 manufacturers need to come up with that to show. I think it needs to show some sort of
21 benefit, if it be this app increased the number of melanoma diagnosis over some sort of
22 established baseline, or something like that. I think something needs to be done. In

1 that case, there will be a lot of sort of people that are sort of just nervous and going to
2 the dermatologist because the app said go see one. I agree. I don't think that
3 histopathology should sort of be the gold standard for everyone on such a study.

4 DR. HARRIS: So, we had a very robust discussion. I would ask if we could
5 project the question again so we can summarize it for Dr. Chen and FDA. Can we
6 project question number one? So, I am going to, at the risk of failing to represent
7 everyone – and by all means, people, please speak up if I do not include an important
8 point in summarizing for Dr. Chen – in response to the question should histological
9 diagnosis be required for obtaining ground diagnosis in all lesions in SLA clinical trials?
10 I would say the Panel generally believes that to be true, but did identify important
11 caveats and reasons to adopt, perhaps, a more hybrid approach. And that would
12 involve and included: what would be the indications for the device, what is the clinical
13 setting which would be used, who would be using it, is this for a layperson or a
14 dermatologist or a skilled practitioner? There were also questions regarding the trial
15 design and the ethical nature of biopsying lesions that are clearly benign, with the
16 benefit being the ability to perhaps better educate or develop specific algorithms, and
17 making sure that in some ways, we may potentially augment the input of a
18 dermatologist since obviously no one is infallible. So, is that a reasonable summary?
19 Anyone from panel one to add something for Dr. Chen's benefit?

20 And then the second part of the question, "Are there scenarios in which alternate
21 means or combination, both histopathology for suspected malignant lesions and
22 consensus opinion." I think I kind of already addressed that in my early summary. The

1 important points there were it really depends upon the indications for the device and the
2 setting for the study and the intended user – when these devices are going to be used
3 by dermatologists, heavily weighted toward lesions that are suspicious... There needs
4 to be more reliance on histopathology as the ground source of ground truth, but
5 consensus opinion might be of value in reference to develop devices that are, perhaps,
6 simply highlighting a lesion should be referred for evaluation by a professional, and
7 perhaps used in rural or low access environments. Any other comments about
8 summary of that answer for Dr. Chen?

9 DR. SKATES: This is Steven Skates. I heard a divided opinion on the Panel. I
10 heard some people would like to see histopathology in pretty much all trials. Other
11 people felt there were clear exceptions, where histopathology could be replaced with
12 consensus opinion. So, I'm not so sure that it's a clear answer. I think we've got a
13 divided Panel here. My preference would be to the default being histopathology, unless
14 the sponsor has a compelling argument as to why some fraction of the patients in this
15 study should not have undergo. Maybe that's one way to split the difference here.

16 DR. HARRIS: Well, we are not going to be voting. I just want to make sure that
17 all of the opinions that have been expressed have been summarized for Dr. Chen to
18 evaluate, along with the other members of his team. Any other opinions you feel have
19 not been included in any of these final comments?

20 DR. ALAM: I would agree with Dr. Skates and to do his towpath in all cases I
21 understand that some others feel there are exceptions and histopath is not necessary.
22 Thank you.

1 DR. HARRIS: Sure. Any other comments? And, Dr. Chen, is that sufficient for
2 you?

3 DR. CHEN: Yes. Thank you for the information and discussion.

4 DR. HARRIS: So, we can move on now for question number 2, if that can be
5 projected and read to us.

6 SPEAKER: Question 2A: Performance Thresholds For Adjunctive Use.

7 Some SLA devices may be used for adjunctive use, meaning the output will
8 provide adjunctive information to be used: by a provider; in concert with clinical and
9 historical information; and in reaching a management decision. The provider may be a
10 dermatologist or non-dermatologist health care provider. The table in the following slide
11 provides proposed performance thresholds for sensitivity and specificity for melanoma,
12 basal cell carcinoma (BCC), and squamous cell carcinoma (SCC).

13 Question 2A: Performance Thresholds for Adjunctive Use

- 14 i. Should the performance thresholds of SLA advices intended for adjunctive
15 use be a pre-defined sensitivity and specificity across all SLA's? For example,
16 table 5, below. Or should performance be compared to another metric, such
17 as the performance of the study dermatologists without the use of the SLA?
18 Or, can adjunctive use performance be assessed by whether the SLA output
19 improves the accuracy of the study dermatologists?
- 20 ii. If preset thresholds are preferable, are the proposed thresholds for sensitivity
21 and specificity proposed appropriate?
22 If not, what sensitivity and specificity threshold do you propose?

1 iii. Should the performance thresholds differ if the device is intended for use by
2 dermatologists or by non-dermatology healthcare providers?

3 iv. Should the performance thresholds differ based on the target diagnosis
4 (melanoma, BCC, and SCC)?

5 Question 2B: Performance Thresholds for Standalone Devices.

6 Other SLAs may be used as standalone devices, meaning that the output will be
7 relied upon at face value to guide care management. Devices for lay users will always
8 be standalone.

9 i. First, should the performance threshold of SLA devices intended for
10 standalone use be a pre-defined sensitivity and specificity across all SLAs, or
11 should performance be compared to another metric, such as the performance
12 of the study dermatologists without the use of the SLA?

13 ii. If preset threshold are preferable, are the proposed thresholds for sensitivity
14 and specificity appropriate? If not, what sensitivity and specificity thresholds
15 do you propose?

16 iii. Should the performance thresholds differ if the device is intended for use by
17 lay users versus dermatologists or by non-dermatology healthcare providers?
18 If so, what performance thresholds do you recommend for each?

19 iv. Should the performance thresholds differ based on the target diagnosis? If so,
20 what sensitivity and specificity thresholds do you propose?

1 DR. HARRIS: Okay. My only comment before we start discussion is, in an effort
2 to complete our discussion of the question and the next one within a reasonable period
3 of time, try to be concise, and if someone else shares your opinion, you need not repeat
4 it. Any comments? Dr. Rotemberg.

5 DR. ROTEMBERG: You know, there's been so much great discussion on this
6 question today and I agree with a lot of what has just been said, that I don't think
7 absolute sensitivity and specificity measures, like what has been presented in the table,
8 are going to be sufficient for us to consider these devices. The best type of test for
9 these devices is going to be a prospective study in the intended use setting rather than,
10 you know, threshold on retrospective data, and in that intended use setting,
11 improvement as defined by, exactly as Dr. Skates and others have said, value
12 judgment, over the standard of care in that intended use setting, is going to be the best
13 way to adjudicate these devices.

14 DR. HARRIS: Thank you. Dr. Alam.

15 DR. ALAM: Hello. I don't disagree with that at all. I think the main challenge we
16 have today is lay devices that are used freestanding. It sounds like MelaFind with other
17 devices is already a bit of a roadmap for how to manage the devices that are used
18 intended to be used by dermatologists to increase their level of accuracy detection, so
19 I'm not talking about those. If you are looking at lay devices, I think it is important to
20 have pretty rigorous benchmarks for those, and we can review that with sensitivities,
21 specificities, positive predictive value, risk, whatever. If we are going to go with
22 sensitivity and specificity, I think the numbers, at least for sensitivity, should be

1 markedly higher than those that are expected for a device that is used by
2 dermatologists or other practitioners. I would anticipate the number I would want for a
3 lay device to be at least 95% for sensitivity, and something like 80% to 90% for
4 specificity. I understand that's not easy to achieve, but I'm not the goal of FDA is to
5 facilitate the proliferation of very bad algorithms that don't do very much. I'm sure there
6 are enough of those already. So, thank you.

7 DR. HARRIS: If I can just prompt you to add a little bit of a comment on the other
8 aspect of the question: would you want those thresholds to vary based upon what type
9 of lesion was being diagnosed?

10 DR. ALAM: Yes, sir. I would like them to vary a little bit. I think there's a
11 consensus on the panel that melanoma is the most threatening of the tumors. I would
12 probably refer to melanoma. You know, a sensitivity of slightly lower would probably be
13 acceptable for BCC and SCC, maybe 5 percent lower, more like 90% than 95%... but
14 somewhat lower, I think, would be acceptable for non-melanoma or lesions not
15 suspicious for melanoma.

16 DR. HARRIS: Thank you. Dr. Skates.

17 DR. SKATES: Steven Skates. So, on the first question about setting a pre-
18 defined sensitivity and specificity, or can adjunctive use performance be assessed... I
19 would like to push the adjunctive use performance being the increase in sensitivity that
20 a provider can achieve with the use of the device, compared to what the provider can
21 achieve without the use of that device. That's an interesting question as to how to do
22 that. Should that have the provider assess the lesion and make a judgment as to what

1 should happen next, and then use the advice on that lesion and see whether that
2 judgment changes? That's with in-person design. And then you can get the increase in
3 performance and accuracy with the device compared to that person's performance
4 across a population they are assessing. You can obtain that increase in performance
5 by comparing them with and without the device. The alternative is to randomize
6 patients to providers that use the device, compared to providers that don't use that
7 device. That would be a prospect of randomized trial, and that would be more
8 burdensome, but there is a cleanliness about that, where no one would argue, if there's a
9 positive result there, that that would be correct. But with in-person result, is also a
10 feasible trial design, and I think should be considered and be less burdensome than a
11 randomized trial.

12 In terms of the specificity, I want go back to making a judgment of benefits to risk,
13 and working out, from even these two studies, the MelaFind and Nevisense studies,
14 what the ratio is in those studies that the dermatologists achieved between the false
15 negatives and false positives, the weight between those two as an average weighted
16 risk. And I would suggest that that benefit to risk ratio, achieved by a dermatologist, is
17 what devices in the hands of primary care physicians or in the hands of patients should
18 be achieving to pass the criteria of safety. That gets away from specificity arbitrariness.
19 It says, can we achieve the safety of dermatologists achieve in their usual practice. So,
20 I would say that is the criteria that should be applied and can be applied across all
21 settings here, from lay user to primary care physician to dermatologist.

1 DR. HARRIS: So, just to clarify so I understand your statement. In the hands of a
2 dermatologist, an adjunctive evaluation, whereby we can demonstrate improved
3 performance, would seemingly be an acceptable standard for a device to achieve?

4 DR. SKATES: Yes. Sensitivity. So, for effectiveness. Safety and effectiveness
5 is the FDA's mantra, or criteria that they need to have studies show. So, for
6 effectiveness, the sensitivity needs to increase; that would be across the board. And
7 the question is, what is the specificity? How do you judge that? You can get a
8 decrement in specificity and still have the benefits outweigh the risk, so then the
9 question becomes how big a decrement is reasonable? And what I'm suggesting is that
10 you use the benefit-risk ratio that you see in dermatologists' setting and apply that, and
11 we've got two studies the FDA has reviewed previously, Nevisense and MelaFind, that
12 could inform the benefit-risk ratio in the hands of dermatologists, and apply that to
13 PCP's and to the lay audience.

14 DR. HARRIS: Just so I can clarify, you would not be in favor of a scenario
15 whereby you might double the diagnostic specificity of the provider, non-dermatologist
16 provider, if it fell short of the performance level of a dermatologist?

17 DR. SKATES: That's an excellent question. If you can increase — it would have
18 to get close to a dermatologist. I don't know that that's meeting exactly the
19 dermatologist criteria, but getting close to it, I think a sense of how far away we are from
20 it... we need to set a bar for safety. That specificity and the incidence mix in a hard to
21 fathom way, but the constant should be benefit to risk ratio; that we set a bar and
22 achieve that across all these types of studies.

1 DR. HARRIS: Thank you. Dr. Ballman, I saw you vacillate.

2 DR. BALLMAN: I vacillated because I think others have said what I think should
3 be done. I think trials need to show improvement in accuracy. Also, without, you know,
4 without sort of sacrificing too much with the others. An ideal trial would show an
5 improvement in sensitivity, and the specificity did not decrease, right? And then going
6 to Dr. Skates, maybe we need that risk-benefit ratio because maybe you can give a little
7 bit on specificity, but I think it needs to show for all studies that there is an improvement
8 in accuracy with using the device over the current standard of care.

9 DR. HARRIS: Okay. And Dr. Alam.

10 DR. ALAM: I would agree in the setting where it's in adjunctive use, you want to
11 see if it's increasing accuracy, however you define that, wherever it's currently at. I'm
12 not sure how that would apply, though, for a layperson, because I'm not even sure what
13 the baseline of detection for a layperson is and how you would detect if their accuracy
14 are increasing. That's why I was just suggesting – I guess they are arbitrary, but there's
15 some arbitrary benchmarks that other physicians have been queried specifically about
16 that question think are appropriate. It's kind of like a Delphi process, which is
17 sometimes the best you can do: consensus in the absence of very rich data. I think
18 that's what my main concern is; I'm not so concerned about FDA regulation about the
19 devices that are used for adjunctive use, because it seems like FDA has a pretty good
20 handle on that. The area for potential problems are the devices that are going to be in
21 the lay public and are going to be marketed very aggressively. Once they are somehow
22 given the perimeter of FDA, no one would really think about the nuance of how they

1 work or what they were intended for – they will just say they're approved. So, it's sort of
2 a high bar for us to make sure what gets out there is reasonably good. I think just being
3 marginally better than a confused person being able to find a lesion on themselves is
4 not good enough. I would like it to be, like I said, a sensitivity of at least wanted
5 dermatologist would do, but ideally a little bit more. Again, it's a screening test for a
6 layperson, so we are focusing primarily on sensitivity, but of course we would like
7 specificity to be extremely low. Thank you.

8 DR. HARRIS: Dr. Farber.

9 DR. FARBER: Neil Farber. Thanks very much. I agree in adjunct of use simile
10 showing an improvement in the hands of either dermatologists or non-dermatologist is
11 perfectly acceptable. I also agree though in the lay public I think it requires some
12 greater attention to assuring there's a baseline of sensitivity, especially when it comes to
13 looking at melanocytic lesions. The other thing I would add is looking at the risk-benefit
14 ratio, as Dr. Skates had pointed out, I do think the issue of the psychological impact on
15 patients who are the lay public and using this device be looked at and there are many
16 ways of doing that. I won't go into it here, but we would be glad to talk about them at
17 some point in time.

18 DR. HARRIS: Dr. Bourelly.

19 DR. BOURELLY: I'm not going to repeat what was already said, but for the
20 second part of the question, I believe, for the layperson or non-derm provider, the
21 standard be at least that of a dermatologist's , if not higher. My reason for that is, I
22 assume that may be the last step for the patient. Even if the patient got so much better,

1 or their non-derm got so much better, if it's not at least the standard of what a derm
2 could achieve, then that person would probably never get referred. That person will
3 probably never show up to a derm, and we are going to miss some people.

4 DR. HARRIS: Any additional comments either on the first part of the question or
5 regarding the second part? As we think about evaluating -- utilizing these devices to
6 evaluate lesions that are not melanomas and how this performance should or should
7 not compare?

8 DR. ALAM: Can I make one brief comment, please?

9 DR. HARRIS: Please.

10 DR. ALAM: I think we should encourage FDA, when they decide exactly what
11 benchmarks are going to be used for SLA devices in particular, to come up with
12 disclaimers that are suitably clear to the public. I'm sure that's the goal that I think that
13 will be important. Not everyone will read them, but if someone does, it will be important
14 to understand for the average user what exactly this device can do, what it's intended to
15 do, and what might be more than it can do. Thank you.

16 DR. HARRIS: MS. Hesser?

17 MS. HESSER: I think we need to be cognizant of what the term FDA approved
18 means to the lay individual. To a patient who sees tools that are FDA approved, will
19 assume that each of those is the gold standard. So, I would be very much in support of
20 all of the FDA SLA tools meeting the same gold standard.

21 DR. HARRIS: Okay, thank you. Dr. Rotemberg.

1 DR. ROTEMBERG: I think that was a really important point and I appreciate it.
2 Oh, I'm Veronica Rotemberg. I think, for me, the comparator to the standard of care is
3 so critical because we know that there are patients who don't have access to sub-
4 specialty dermatology care. So, improving what they have access to in terms of
5 diagnosis is critical, especially to early diagnosis of skin cancers, and especially
6 melanoma. I also think that this discussion of lay devices is going to end up being just
7 as nuanced as everything else that we are talking about because, exactly as Dr. Alam
8 said, if the device says "you should see a dermatologist for this" and no other
9 information, that's very different from "that lesion is suspicious for melanoma." That also
10 is going to change the psychology of what the patient believes when they are in the
11 dermatologist's office. This is why, even though I know how difficult trial in the lay
12 population will be, these questions... we just don't know the answer to them in less we
13 test them in real life. And we don't know how many additional biopsies we would cause
14 with specificity of 80%. We don't know how confident a dermatologist might be to say,
15 actually I'm not suspicious about that lesion, we can just watch it or not biopsy it... We
16 don't know any of that until we study it in real life. So, I agree the standard for sensitivity
17 should be high. I think the standard for specificity should also be high. But we should
18 really think about them and we should demand prospective trials to analyze this, too.

19 DR. HARRIS: Dr. Roth.

20 DR. ROTH: This is Carolyn Roth. I have a couple of small comments I agree with
21 most of what has been said. I think the standard for the primary care physician should
22 be to bring the primary care physician up to the performance of a dermatologist. So, I

1 think that's very important. In terms of the layperson, I think that it would not be
2 inappropriate for a recommendation that patients consult with their primary care doctor
3 or dermatologist. I think we are leaving the primary care physicians out of the loop here
4 when we talk about laypeople. But I also want to come back to the points that were
5 made earlier today about less being known about the diagnostic accuracy of these
6 devices in people who are in higher Fitzpatrick scores, and people of Asian and South
7 Pacific Islander, as well as African-American descent. I don't know who I'm leaving out,
8 I apologize. But for people who are past Fitzpatrick class II, I think we might also
9 specifically encourage more extensive testing of these devices in these populations.
10 Thank you.

11 DR. HARRIS: Thank you. I'd just like to propose a quick question and get some
12 feedback on the panel. It sounds like we are in favor of devices that can either elevate
13 the performance of layperson and/or private practice or PCP to that of a dermatologist.
14 And then, of course, further elevate the performance of a dermatologist with these
15 devices. I'm just curious, if that were the standard we wanted these devices to meet,
16 we are saying we would not want the device to give a particular diagnosis, or perhaps
17 even a differential, to a layperson. Even though we are demanding or wanting these
18 devices to perform at this level. Is that what we are saying? Anyone?

19 DR. ALAM: I think that's correct. If I understand, I think we do want devices to
20 perform at the level of a dermatologist at least. But we are concerned, as has been
21 very eloquently discussed by others, about creating anxiety for patients or otherwise

1 making them uncomfortable. So the goal would be to get them in a physician's office,
2 ideally dermatologist's office for further management.

3 DR. HARRIS: And this is perhaps a question for Dr. Farber, since I think you
4 have background in this issue of anxiety, is there a substantial difference in the level of
5 anxiety being told 'you need to go see your doctor about that skin lesion' versus 'that
6 could be an melanoma.'

7 DR. FARBER: First of all, yes. But I think that depends on how it's phrased. If
8 it's phrased in, you know, you do need this lesion. It would be advisable for you to see
9 your physician so that they can discuss it with you and if necessary do further tests to
10 diagnose what it is. That's one thing, as opposed to saying 'you have a serious skin
11 lesion. You have to go see or PCP.' We don't want to do that. On the other hand,
12 saying 'you have melanoma, which is a type of cancer; you need to see your physician
13 right away,' is very anxiety-provoking. Patients, oftentimes when they hear the word
14 cancer, hear nothing else beyond that. And you can't obviously phrase it in carcinoma.
15 So I think the way it's phrased is most important. But, yes, I think we don't want patients
16 that are using the device to have a specific diagnosis. The other thing is, they go to see
17 their dermatologist, let's say, and the dermatologist says, "No, it's not that. It's this
18 instead," then the patient is likely either to not trust either the device or the
19 dermatologist because there's a difference. So, I think they should just be directed to
20 see somebody.

21 DR. HARRIS: Dr. Asher.

1 DR. ASHER: Yes, two comments. One is: the team is reminding me that the
2 numbers the Panel has been provided in Table 5 are numbers based on dermatologist
3 performance. And so, the question that they have is, is the recommendation for sub-
4 studies to be done in each case with these prospective trials, characterizing
5 dermatologist performance and proposing something equivalent or improved over that?
6 So, that's one question. Then, the second question, and I have to take responsibility for
7 this, but I do need to press, and that is it sounds like some of the recommendations are
8 suggesting a higher sensitivity and specificity more because of the concern about
9 problematic risk communication. So, I guess my question is, can the Panel envision
10 better ways to communicate risk more accurately so that there is not this compensation
11 by default; we are just asking for a very high threshold of sensitivity or specificity
12 because we think people will misunderstand. Two questions. Thank you.

13 DR. HARRIS: Dr. Suarez.

14 DR. SUAREZ-ALMAZOR: I think that's a very good point about risk
15 communication and whether we are requiring a higher sensitivity, or, for some Panelists,
16 because of the consequences of patients not understanding SLA setting. I think that
17 ties also with how the information should be given. From what was discussed before,
18 my concern about just saying 'you need to see your dermatologist,' there's two issues.
19 The first one is, these patients are using that tool as a screening tool for melanoma.
20 They know that if it tells you to go to your dermatologist, it's because it might be
21 melanoma. Not having anything else in the descriptions as given to them might even
22 provoke more anxiety than having something that's better explained. So, I would be in

1 favor of explaining a little more and not just, 'you need to see you dermatologist,'
2 because, for some people it may create more anxiety, and others, it may not be a
3 trigger that's sufficiently high for them to go and see a provider. Again, I think it needs
4 to be balanced. And you never know what might be the best language; I wouldn't be too
5 blunt, but I wouldn't be too vague either. I would try to go somewhere in between. I
6 think going back to the question about higher level of sensitivity for certain
7 populations... I mean, I'm not sure about that. I think that in order to judge that, more
8 information would be required on how population react to this information before
9 deciding what the thresholds need to be.

10 DR. HARRIS: Dr. Skelsey.

11 DR. SKELSEY: Regarding the anxiety patients experience, do others in the panel
12 think that can be alleviated to some degree by giving some more information about the
13 likelihood —

14 DR. FARBER: No.

15 DR. SKELSEY: — and the numbers of melanoma that are successfully in an
16 earlier state. People are purchasing these devices, and there is that risk of having an
17 anxiety-provoking diagnosis. I wanted to get back to the issue of follow-up analyses,
18 looking at different users of these tests. I think it's critical that the companies look
19 specifically at different providers in terms of their training and experience to see how
20 these devices are used, and that means — you were going to be using it in a lay
21 population, but for some of them and others for providers — but I think we need to look
22 at all of these, and follow-up on how they are actually utilized for different levels of

1 training. So, I agree with how you summarized, Dr. Harris, but I did want to make that
2 point that I think it would be helpful for the FDA to require that, say, users with different
3 levels of education and experience and how they utilize it. Is it different for somebody
4 who has a Ph.D. versus someone who went to high school?

5 DR. HARRIS: Thank you. Dr. Rotemberg.

6 DR. ROTEMBERG: First of all, I would just press that the idea of how a layperson
7 would respond to an app has not been studied. We do not know, in this use case,
8 whether 'just go see your dermatologist,' or 'this looks like melanoma,' or 'this has a
9 10% chance of melanoma,' or '20% chance of basal cell,' we do not have tests that tell
10 us what will happen. I think that it is probably too ambitious for this Panel to say
11 definitively what would happen in that situation, and I think we absolutely need studies
12 to tell us the answer to that before we can understand the balance of risks versus harms
13 of those different types of communication.

14 I think the answer to your question, Dr. Asher, is not that we are worried about
15 communication, it's that it's a very low-prevalence population. So, the general
16 population in the United States does not have a high risk of melanoma. So, if we are
17 going to launch an application that diagnoses melanoma in 350 million people, including
18 a 2-year-old, which has almost a 0% chance of melanoma, we want to have very, very
19 high standards because the prevalence is low. Not because of the challenge of
20 communication. And I think that's the difference between a dermatologist, who already
21 has a lesion that's suspicious – the chance that's melanoma is so much higher, just your

1 pre-test probability, than a layperson taking a picture. We need to take that into
2 account.

3 DR. HARRIS: Dr. Bush.

4 DR. BUSH: Laura Bush. I was just going to say that I would feel it would need to
5 be at least equivalent in sensitivity, because we want to make sure that it does add
6 value. And the points with it being higher than a dermatologist may be because we are
7 worried a little bit about false sense of security for people, in addition to points Dr.
8 Rotemberg said as well. But I feel that you might have patients that would have a false
9 sense of like, oh, I'm okay, and they just looked at the one lesion they were worried
10 about. The one thing we were discussing using laypeople, using communication and
11 feeling anxious about it, possibly they could market it with descriptors, such as green
12 light, low risk; yellow, moderate risk; and red, you need to see your doctor... kind of in a
13 broad brush of categories. To not say you have a melanoma or you don't, but kind of
14 maybe do the studies of guiding them to where they need to be.

15 DR. HARRIS: Dr. Skates.

16 DR. SKATES: Hi. Steve Skates. I envision these devices having multiple
17 different — for each device probably the manufacturer is going to identify slightly
18 different, or may be quite different, study populations for the device to be applied, and
19 that's going to have the prevalence all over the map. And it's for that reason, and in
20 those different populations, it is likely that dermatologist is going to have different
21 sensitivities; that their performance is going to differ. I want to get away from having an
22 absolute sensitivity. I want to get to a point where you can say the device increases

1 what would be done without the device. That's captured in question 2A part one, where
2 you say at the end can adjunct of use basis by whether the SLA output improves the
3 accuracy of the study dermatologists. And I would say that's what I think is the criteria
4 that should be used to assess these SLA's, rather than saying we want greater than
5 90% sensitivity. Because a good all depend on population. I want to have the device
6 improve what's currently done rather than — and that could vary all over the map. I
7 think the better way to say it is improve what's being done.

8 DR. HARRIS: Dr. Farber.

9 DR. FARBER: I want to echo what Dr. Rotemberg said about the fact that we
10 don't know how patients react to something like this on an app, and I fully agree with
11 that. And actually, that study should be done. I do know there are data about patients
12 being informed of the diagnosis of cancer. Not specifically dermatologic cancer, in
13 which there is a lot of anxiety, and basically lack of communication once the word
14 cancer is used. So, think it is important to phrase the information to patients, if it is to be
15 used by patients, in a manner that would not cause anxiety, but rather would encourage
16 to see either their PCP or dermatologist.

17 DR. HARRIS: Great. Dr. Alam.

18 DR. ALAM: I wanted to agree with Dr. Rotemberg. I think she brings up a very
19 important point. And to the point that some of these lay apps may be intended for
20 particular source of lay populations, while that may be the case, the reality is once the
21 app gets out, people are going to use it willy-nilly, and whether or not they are going to
22 read the disclaimer, 'this is only good for people with a particular skin type within a

1 particular age'... They are going to use it regardless. So, those apps have to be
2 sufficiently robust that they are providing reasonable information. Of course, exactly as
3 Dr. Rotemberg said, while the prevalence of skin cancer is relatively high in the U.S.
4 over all because there are a lot of white people, it's obviously going to be much lower in
5 the general population than in a selected high-risk population in a dermatologist's office.
6 So, to get an equivalent likelihood of detecting something bad, you would need a higher
7 sensitivity. I also agree with Dr. Skates that other metrics may be necessary, but the
8 bottom line point that the test probably needs to be better than a test use in a doctor
9 office or dermatologist office for high-risk patients. Thank you.

10 DR. HARRIS: A couple of more quick comments, and then see if we can
11 summarize and move to our 3rd question. So, Dr. Ballman.

12 DR. BALLMAN: I mean, I think I am agreeing about the high sensitivity in a
13 layperson population. Someone just using it at home, but I think as was brought out,
14 and all of us know that screening in a general population is a very, very high bar to me,
15 right? We don't screen for all sorts of things, you know, just because they are low
16 prevalence, right? I think that automatically would make the sensitivity go up. But I
17 really think the bar has to be that the manufacturers have to show a benefit of using
18 their device. If it's a low prevalence population that's going to have to mean that that
19 sensitivity is going to be up there, but I would prefer to focus on showing sort of
20 improvement over some metric.

21 DR. HARRIS: Dr. Rotemberg, your hand was up and now it's down.

1 DR. ROTEMBERG: I just want to agree with Dr. Ballman. So, I agree with what's
2 been said.

3 DR. HARRIS: Thank you. Dr. Burke, final word. Well, not final word, but next
4 word.

5 DR. BURKE: Yes. I just think I agree with Dr. Skates that the SLA output should
6 improve the output of dermatologists and should be showing and improves the output of
7 primary care physicians approximately that of dermatologist. And I think I prefer that
8 paradigm to just numbers of sensitivity and specificity. And also, I just don't think this is
9 for the lay public yet.

10 DR. HARRIS: So, in an effort to summarize for Dr. Chen, can we re-project the
11 question?

12 SPEAKER: Do you want me to advance the slide?

13 DR. HARRIS: Please advance the slide. I think it has that. Correct. Once again,
14 before we provide a summary for Dr. Chen, we are saying we want — I think I'm
15 hearing the Committee say that the device needs to improve the performance of
16 whomever is using it, whether it be a dermatologist or a primary care physician or the
17 lay public. Are we able to provide any – and I know that we heard in great detail from
18 Dr. Skates the preference for using that versus relatively or pre-identified specificity and
19 sensitivity cutoffs. Are we unable to suggest any numbers in that regard for any of
20 those users in any of those settings?

21 DR. ROTEMBERG: I would suggest that we have as a minimum 10%
22 improvement in performance, but I agree that it would be better for it to be even higher,

1 but I think at a minimum we should expect a 10% improvement. And that's been in
2 many type of perspective clinical trials. That can be an achievable benchmark.

3 DR. HARRIS: And so, these benchmarks that are on this table are currently
4 projected, represent the performance of dermatologists, if I understand this correctly.
5 So, are we saying that we would want these devices to meet, and/or exceed these, or
6 establish independent criteria in the study itself?

7 DR. ROTEMBERG: Yeah. It needs to be tested against its intended use setting
8 because these numbers are an approximation over many different intended use settings
9 and many populations and shouldn't be generalized in my opinion.

10 DR. HARRIS: Any other comments on that regard?

11 DR. SKATES: I completely agree with Dr. Rotemberg on that. It's the change we
12 want. So it's that second paragraph of that one that I think is what's needed.

13 DR. HARRIS: So, hypothetically, if a manufacturer were to conduct a trial and it
14 ended up that the sensitivity of the providers was 50% and the device was 60%, or 65,
15 we would be in favor of that device being approved.

16 DR. SKATES: So, I'm probably not the right person to speak on that, but that
17 would satisfy the effectiveness criteria. The safety criteria would also have to be
18 judged, and that is this benefit-risk ratio.

19 DR. HARRIS: All right. Any other comments before we summarize and move on
20 to question 3?

21 DR. ALAM: I think teamwork example, Dr. Harris... that was an interesting
22 example. I would be concerned if the increment of baseline was uncharacteristic for

1 that patient population. We certainly wouldn't want studies done where, I don't know,
2 dermatologists were blindfolded, and then the computer was a little better than the
3 blindfolded. We would want them to be at least as good as they routinely are in the
4 device could be better. Thank you.

5 DR. HARRIS: So, Dr. Chen, this has been pretty nicely summarized. The attitude
6 is that these devices, the criteria they need to meet in terms of their performance, is
7 they are better than what is currently available in the practice setting, whether it be a
8 dermatologist office versus comparisons in a primary care physician's office, or what the
9 lay public I would have access to otherwise. And that the issue of safety, which is kind
10 of what Dr. Alam was speaking on, there would be a separate criteria so that there
11 wouldn't be an unusually poor performing device that, just by the lack of trial design or
12 that particular study, somehow achieved approval. Does that help you? Does that
13 satisfy?

14 DR. CHEN: Yes. That information is enough for us to think about and move
15 forward for the next step. Thank you.

16 DR. HARRIS: I think, importantly, no one seems to be comfortable with providing
17 or pre-ordaining kind of across-the-board sensitivity/specificity. That is also a big part.

18 DR. CHEN: Yeah. We heard that.

19 DR. HARRIS: All right. We can now move on to question number 3 and have
20 that objective read for us.

21 SPEAKER: Question 3: Performance in the U.S. Population. Panelists should
22 consider whether the skin lesion analyzer devices must be able to analyze skin lesions

1 with an acceptable sensitivity and specificity in all patients prior to FDA clearance, or
2 whether proof of performance data in higher-prevalence populations, for example, non-
3 Hispanic white individuals, can be provided to allow these high-prevalence populations
4 access to this technology, followed by clinical studies in low-prevalence populations.

5 The potential benefit of a stepwise approach is that it may allow for earlier access
6 to this technology for populations at high-risk, but it may increase the risk of false
7 positive and false negative results in lower prevalence populations in whom the device
8 has not been inadequately trained and tested

9 However, requiring SLA to be tested in patients with lower incidence before
10 entering the market could delay the time to market due to extended enrollment times for
11 statistically relevant numbers of darker skin individuals with skin cancer.

12 Should FDA allow skin lesion analyzers to be marketed based on study data from
13 a limited U.S. demographic – for example, in higher incidence populations – with
14 subsequent data collection in lower incidence populations to explain the indications for
15 use?

16 Or, should the FDA require the training of AI/machine learning (ML)-based skin
17 lesion analyzer technologies in all populations regardless of specific cancer incidence?

18 Although the previous questions have focused on skin cancer, skin lesion
19 analyzers may also be used for other lesions other lesions that have similar prevalence
20 across all US demographics but look different in different Fitzpatrick skin types.

1 To ensure generalizability across the entire US population, should FDA require all
2 AI/ML-based skin lesion analyzers indicated for use beyond cancerous lesions to be
3 trained and tested in a representative U.S. population?

4 DR. HARRIS: Okay. Comments? Dr. Bourelly?

5 DR. BOURELLY: I think it should include all skin types. I think there is some
6 room to decide what proportion needs to be skin type III, skin type IV, skin type V and
7 VI. And that can be reflective of what we expect the incidents to be in that population,
8 but I think we are missing an opportunity if we don't include all skin types. And if we
9 haven't learned from the last two years how healthcare disparities impact our country...
10 We should know right in this moment that we have an opportunity to set an example for
11 other studies unrelated to skin cancer by including all skin types. Thank you.

12 DR. HARRIS: Thank you. Dr. Alam.

13 DR. ALAM: I would agree with Dr. Bourelly that would be a good call. This is kind
14 of the tip of the spear. And if we don't do it now, it might just not get done for other
15 diseases as well. It's very inconvenient. For skin cancer it's quite difficult. And maybe,
16 even with the best efforts, it won't be as good as it is for skin types I and II, to detect a
17 skin cancer using an algorithm of this nature, especially for laypeople. I think it would
18 be a very, very important effort to undertake and I think it would be to the credit of FDA
19 to insist on that and facilitate on that and find a way to make it work.

20 DR. HARRIS: Thank you. Dr. Ballman?

21 DR. BALLMAN: I agree, all skin types. There could a possibility, if there's enough
22 power and one group and sort of report out and act upon that while you are still — and

1 in the meantime still continue accruing to the other groups to make sure that you can
2 confirm and/or tweak the algorithm if necessary.

3 DR. HARRIS: Thank you. Dr. Rotemberg.

4 DR. ROTEMBERG: I think these are all really important points, and I'm so
5 grateful to the FDA for having Dr. Adamson come talk to us about his work. I think that
6 the distinction between diseases of similar prevalence and the diseases of disparate
7 prevalence is really important. The value of recruiting from all skin tones cannot be
8 understated and it needs to be emphasized by the FDA, but most algorithms that are
9 trained to detect melanoma are trained on thousands of melanoma examples.
10 Something like 20,000 melanoma examples. Given that there are only a couple
11 hundred melanomas and non-white patients in the United States, it's not going to be
12 possible to create an algorithm that has equivalents in that population. So, I think there
13 is going to be a need for balance. The thing that I think is super important is going to
14 be, of course, the diseases where the prevalence is more similar, and the safeguards
15 that we ask of the manufacturers when algorithms are deployed. Because as Dr.
16 Cohen said, people don't read the warning labels. They don't read the disclaimers, and
17 then all of a sudden these algorithms are being deployed in populations when they
18 haven't been tested, and have every expectation that will perform badly. I would say we
19 should ask for automated safeguards for algorithms to say, I don't think this is within my
20 scope. Very clear warnings and communications and other things like that if we are
21 going to allow these devices to move forward without increasing the prevalence of
22 diverse skin tones.

1 DR. HARRIS: Dr. Farber.

2 DR. FARBER: Neil Farber. The other issue I would chime in on is approaches
3 from an inclusivity point of view rather than exclusivity point of view, and therefore, I
4 said absolutely no benefit of not including patients of different skin colors.

5 DR. HARRIS: Thank you. Dr. Roth?

6 DR. ROTH: Dr. Roth. I agree with what everyone has said that we should be as
7 inclusive as possible. I do believe that it is possible to design the algorithms in such a
8 way that people have to input information about their age, other aspects of their life,
9 maybe even photos of their skin color, before you actually look at the lesion. So the
10 analysis could be mitigated by the kind of data the algorithm receives. I think that it is
11 possible to create an app that would, in fact, be respectful of those differences, but I
12 don't think we should hold this up... But we should continue to test and we should
13 continue to accrue data, but I agree it should be as broadly applied as possible.

14 DR. HARRIS: Dr. Skelsey.

15 DR. SKELSEY: Thank you. I think it's the most ethical path to take into
16 consideration all skin types. Does that mean, however, devices that can't access areas
17 – like as Renata Block pointed out, acral skin, genitalia; neither Melafind nor Nevisense
18 for instance can do that – should those be excluded because they are going to not be
19 able to assess areas where we are more likely to see tumors and skin of color?

20 DR. HARRIS: Okay. Dr. Burke, comment?

21 DR. BURKE: I was going to make exactly that point. These measurements really
22 at this time can't quite measure palm soles and lesions under the nail. So, we just have

1 to be aware of that, but absolutely acceptable to treat all skin types. Also, since we are
2 increasingly a mixed race population, I think the genetic data to some degree, one or
3 two generations of data of ethnicity, should be included because there are so many
4 mixed races now. So, somehow you have to include all races very much in the study,
5 but also have input data for, let's say one or two generations of ethnicity.

6 DR. HARRIS: Thank you. Dr. Skates.

7 DR. SKATES: Steven Skates. I maybe misunderstood this question, but I had
8 the sense that the assumption would be that all races and skin colors are to be included
9 in the study, but should there be a result with the higher incidence earlier on? Is it okay
10 for the FDA to allow the company to proceed with marketing in that population with the
11 provision that we keep on enrolling in the lower incidence populations until you have
12 sufficient numbers, and then roll out the algorithm in a phased way? That was my
13 interpretation of the first part of this question. Or, the other part is, should the FDA wait
14 until every skin type has been assessed and sufficient numbers and all types ranging
15 from low instance to high instance be achieved before the company is allowed to put it
16 on the market? I want to see if that was what the FDA was asking because that was my
17 understanding of this question. And it's more nuanced. Absolutely, all skin colors and
18 types be involved in the study. But can the company roll outs on particular skin types
19 because it's higher incidence before it rolls it out in lower incidence skin types... I would
20 like to hear the Panel's views on that. First of all, is at the FDA's question? Second, if
21 that is, I would like to hear what other people on the Panel feel about that.

1 DR. HARRIS: Can we get a response from the FDA answering that question? Is
2 that an accurate interpretation of the question you are asking us to address?

3 DR. ASHER: Yes, this is Bonita Asher. That is accurate. We want all medical
4 devices to be created and applicable to all patients, consistent with the U.S. population,
5 but given the epidemiology and some of the challenges, what are your thoughts around
6 that? There is benefits and risks to both approaches. One is delayed market entry to
7 the population most at risk. The other is that perhaps there's going to be significant
8 delay in conducting the studies in populations that have lower risk. So, we were asking
9 the Panel to contemplate that and to give us recommendations on how we can achieve
10 what we understand to be the ideal, is that, we are studying the population
11 representative of the diverse U.S. population. Thank you.

12 DR. HARRIS: Thank you. Dr. Alam.

13 DR. ALAM: I agree with what previous speakers have said, and I thank FDA for
14 the clarification. I think, as Dr. Rotemberg has said, it's reasonable to have some kind
15 of disclaimer. It's reasonable to continue to accrue patients. Even with all of that, and
16 even with significant time elapsed, it is probably going to be the case that the apps will
17 not be as good for types 5 and 6 patients as it will be for other patients, just because of
18 the inherent limitations in how many such patients will be enrolled.

19 To answer the FDA's question, on the one hand, it would be nice to get the
20 access sooner rather than later, whatever these devices are, because the very high at-
21 risk population, that generally is not skin of color. However, I think one of the concerns
22 that you highlighted, Dr. Asher, is that if that occurs, the incentive for companies

1 marketing these products to actually follow through and enroll skin of color patients in a
2 timely manner, or at all, can be somewhat diminished because their primary consumer
3 is quite happy and... things happen. So, I don't know how that is balanced, but I would
4 suggest FDA consider not only a carrot, but some kind of stick. So, if you feel like these
5 devices should go to market even as they're enrolling or while they haven't finished
6 enrolling accurate numbers of skin of color patients, you might want to have some
7 provision whereby, if they haven't succeeded in doing that in a timely manner, however
8 many years that is, they lose approval of their device or its rescinded or mitigated in
9 some manner. Thank you.

10 DR. SKATES: Steven Skates. I was going to ask, what are the sticks that FDA
11 has to make sure that, if there is an early rollout, that the studies continue in 4, 5, 6
12 Fitzpatrick scale patients? I don't understand the sticks. Perhaps if we were reassured
13 that there were sufficient sticks, that might be reasonable approach.

14 DR. ASHER: This is Bonita Asher. I think, first and foremost, we take advice that
15 you provide to us and your recommendations very seriously. If you have strong
16 recommendations in this regard, I think that is helpful. We have mechanisms by which
17 we may consider the need of post-approval studies if there is a safety concern
18 potentially regarding the use of device in certain populations. Beyond that, I think you
19 can be creative and imaginative in suggesting what you think the right thing is to do, and
20 we'll take that and try to put that into the regulatory framework, and see how your
21 scientific recommendations can result in the regulatory stance supporting the science.

22 DR. HARRIS: Ms. Hesser.

1 MS. HESSER: I believe the FDA is in a position to be able to encourage industry
2 to engage patient advocates of all skin colors in the development of their clinical trial
3 protocol and bring people to the table early on in that clinical trial development. That
4 will help offset some of this post-approval conversation. Strong advocates can help
5 steer in the correct direction and be representative of a lot more populations than we
6 are seeing now in SLA.

7 DR. HARRIS: Thank you. Dr. Burke?

8 DR. BURKE: Yes. I absolutely agree with everything. It says we must
9 encourage further studies now and in the future. I think this is relevant to our discussion
10 tomorrow about having this classified as a Class III, as opposed to Class II, because
11 perhaps class III makes it more possible to have post studies, increasing studies after
12 approval.

13 DR. HARRIS: Thank you. Dr. Rotemberg.

14 DR. ROTEMBERG: Two things I would say. I wonder if there's a framework that
15 compares the prevalence of the training data used to develop a model, as compared to
16 the disease prevalence in the U.S. population. So, for certain diseases, like psoriasis, I
17 might not think that we should even allow initial launch of a study that does not have
18 diverse skin tone representation, whereas in a disease like melanoma, where we know
19 it would take 10 years to recruit 4000 melanoma patients of skin tone 5 and 6, that
20 might be something that could be discussed by the FDA. Setting criteria that clearly
21 evaluates the training data that was used as compared to the specific diseases that are
22 being evaluated, I think would be a really valuable place for the FDA to be a leader.

1 The other thing that I would say is around labeling. There's a lot of effort around
2 model labeling and certification for how fairness has been incorporated into data and
3 model development. I think this is something that the FDA could also collaborate with,
4 in terms of transparency around data that is being used for model training, and ethnicity
5 and skin tone labeling for that data, transparency around decisions that were made in
6 terms of oversampling, synthetic data, other types of technical decisions that are made
7 by the model developers... and just making sure that that type of transparency is
8 required. I think that would go a long way. How to communicate that to patients and
9 end users is a longer discussion we might not have time for today, but all of that is going
10 to play a huge role in how well algorithms perform in diverse populations. And of course
11 it's going to have to be compared to the underlying prevalence.

12 DR. HARRIS: Thank you. Dr. Bourelly.

13 DR. BOURELLY: Quickly, I think with the first thing you said in the first half of
14 that, Dr. Rotemberg, is my definition of equitable care, not identical care. You won't get
15 4000 melanomas, in short. Of course, I do want to remind that basal cells and
16 squamous cells are also seen in Fitzpatrick 3 and 4. We are not just talking about
17 melanomas, although I know that's our killer. We're talking about all comers. Again,
18 thank you.

19 DR. HARRIS: Thank you. Any other comments? If not, can we please re-project
20 the question? I will try to summarize. So, should FDA allow these devices to be
21 marketed based on study data from limited U.S. demographic? What I heard
22 unanimous was all skin types should be studied, but that there seemed to be allowance

1 for these considerations given to allow these devices to be marketed prior to having a
2 full repertoire data represented of all skin types. Is that accurate, Panel?

3 DR. ROTEMBERG: I'm so sorry, Dr. Harris. Could you repeat it again? I think I
4 might've missed some of the detail.

5 DR. HARRIS: Not many details. Just saying that the panel was unanimous in its
6 endorsement of companies and these devices engaging in studies that include patients
7 of all skin types, but that there seemed to be an allowance for some devices to be
8 marketed prior to having a fully robust data set that encompassed all skin types, with
9 the acknowledged concern that may eliminate the motivation for these companies to
10 continue collecting the more difficult or less accessible data. Some suggested that
11 might be mitigated by mandatory post-marketing efforts, and perhaps other strategies
12 that FDA could employ to ensure that these companies don't lose their enthusiasm for
13 the collection and incorporation of the more difficult or less accessible data.

14 DR. ROTEMBERG: I would just add under certain conditions of transparency and
15 prevalence.

16 DR. HARRIS: Okay. So, Dr. Chen, do you have an adequate appreciation for the
17 Panel's deliberation on this question?

18 DR. CHEN: Yes, we do.

19 DR. HARRIS: Thank you. I would now like to ask our non-voting members, Dr.
20 Bryant, our Industry Representative, and Ms. Hesser, our Patient Representative, if they
21 have any additional comments. Ms. Hesser, do you have any additional comments for
22 us?

1 MS. HESSER: I have no additional comments, other than thank you for listening
2 to the patient perspective, for allowing me to represent the interested patients, and I
3 appreciate that opportunity.

4 DR. BRYANT: No additional words. I would just say kudos to this Panel – very
5 enlightening – the level of discussion was educational and inspirational because the
6 phone on this call are really dedicated to patients. And also, kudos to the FDA for
7 having a Panel, having the folks come in and speak that you did... Very, very
8 informative; this is great.

9

10 CLOSING COMMENTS FROM FDA AND PANEL

11

12 DR. HARRIS: Thank you. At this time, we have the opportunity to hear a
13 summation and comments and clarifications from the FDA. Dr. Asher, you have any
14 additional comments for the Panel?

15 DR. ASHER: Dr. Chen, did you have one item you wanted to bring up related to
16 question 2?

17 DR. CHEN: One. Earlier, when we the panel discussed the question number 2,
18 specifically question 2B, related to the lay user use, can the panel clarify again the
19 extent of sensitivity/specificity or the accuracy that we need to pay attention to?

20 DR. HARRIS: So, it was my impression and by all means, Panel, please correct
21 me if I'm wrong. The general consensus was that the devices should meet essentially
22 the same standards as the devices that would be used by non-laypersons.

1 DR. ASHER: And standalone use as an adjunct, your recommendations are the
2 same. Is that accurate?

3 DR. ALAM: If I may, I thought for standalone, we wanted slightly more rigorous
4 standards. And I think Dr. Rotemberg had indicated, and others had agreed, that
5 maybe the sensitivity should be somewhat higher because we are now using these
6 devices in a very broad population. And without any physician oversight. So, I think –
7 personally I think the bar should be higher for devices that are used by laypeople in a
8 freestanding environment. When they are used for adjunctive use, the standards seem
9 to be, they should improve people's performance over where it currently is, and there
10 was a preference for randomized controlled trials to show that, but I think it's a little
11 different in a lay setting where the bar should be higher. Thank you.

12 DR. HARRIS: Any other comments?

13 DR. ROTEMBERG: Yes. In the lay setting, I think we all agree that currently,
14 there is no evidence for general population screening. And so, these devices need to
15 show a clear benefit. One of the criteria for that benefit is going to be high sensitivity
16 and specificity, because dermatologists in a low-risk population presumably is going to
17 do better than table 5, but also, beyond that, you know, we need to show a clear benefit
18 to the laypeople. Sorry to take all your words out of your mouth, Dr. Ballman.

19 DR. BALLMAN: I want to emphasize that again we are screening in a low-
20 prevalence population. That's naturally going to make the sensitivity be higher, but I
21 don't think you should look at it in terms of what the sensitivity should be, but, as what

1 was said previously, should show a clear benefit of screening with the device in that
2 population.

3 DR. HARRIS: Any other comments? Any other comments from you, Dr. Asher or
4 Dr. Chen?

5 DR BOURELLY: I'm sorry to interrupt. I thought that we had said, for the
6 laypeople, we were going to try to bring them up to the level of a dermatologist. I'm
7 sorry. Maybe I missed that, because simply approving a layperson doesn't seem like
8 enough. Maybe I'm misunderstanding what's been said.

9 DR. ALAM: I would agree with that as well; I think we have the same sentiment.
10 I'm still a little confused about how we are going to show that it's an improvement for the
11 layperson, because an improvement over nothing is, well, anything would be an
12 improvement over nothing.

13 DR. BOURELLY: That's my point.

14 DR. ALAM: I would like it to be... Dr. Ballman is shaking her head, because I'm
15 misunderstanding something.

16 DR. ROTEMBERG: That's a difference between improvement and benefit. We
17 are not saying – and I believe Dr. Ballman and I are in agreement – that we're not
18 saying patient is improved in their ability to diagnose melanoma. We are saying that, on
19 a population level, if this is deployed, there's going to be a benefit. A decrease in
20 mortality from melanoma would be an example. A very ambitious example. But you
21 could also imagine earlier treatment for psoriasis based on the number of days a patient

1 is suffering without X treatment by an expert... Some definition of true patient benefit for
2 deployment of the app. I think we agree.

3 DR. ALAM: Sure. I think if you're going to throw the intermediate outcomes out of
4 the window, like detection and biopsy, you're going to go for true benefit. I think that's
5 great. I think that is the gold standard. That would be a 20-year-long study though, if
6 you're looking at mortality.

7 DR. ROTEMBERG: It depends on the study and it depends on the disease.

8 DR. ALAM: That's a concern. Looking for true benefit in a general population,
9 that's going to be an enormous study over a very long period of time. Just a thought.

10 DR. HARRIS: I can't see everybody. Can we take down this slide? Okay. Dr.
11 Scales.

12 DR. SKATES: Steven Skates.

13 DR. HARRIS: Dr. Skates, sorry.

14 DR. SKATES: The general population would like to get the device performance
15 out to the level of what dermatologists could do in standard practice. So, I think that's a
16 bar that depends on the population of the disease, and so setting up one constant bar
17 for sensitivity or specificity is not the right way to go. But in general, that is the level we
18 would like to get it to.

19 DR. HARRIS: I actually don't think it's probably accurate to say we are anything.
20 There are differences of opinions. These are all I think part of the opinions that have
21 been expressed. Unfortunately, I don't think that we can give a singular summary
22 opinion, but those opinions I think are consistent.

1 DR. SKATES: So, Dr. Alam was talking about how long a study would take with
2 her really in point, and I have to agree with him on that. So, that's why I think the FDA is
3 focusing on rather immediate inputs like sensitivity and specificity, and I think we can
4 tweak that so that we can adjust. We can use Dr. Kominsky's benefit-risk ratio and say,
5 that's what we want to get to with the lay public using this device, in getting it to where
6 dermatologist is doing it, on that benefit-risk ratio. That will apply across all populations,
7 whether very low prevalence, high incidence, what have you, intermediate... But if we
8 are going to go for mortality reduction, that's a 20 year study or even other real clinical
9 benefit. But sensitivity and getting benefit risk performance of dermatologist is feasible
10 in the next 3, 4, 5 years.

11 DR. HARRIS: Dr. Alam.

12 DR. ALAM: Thank you. I just want to clarify, because I think you looking for
13 consensus. I would be very happy with Dr. Ballman and Dr. Rotemberg's bat; it's just
14 more ambitious than I was thinking. But I think showing benefit – that is fantastic. If you
15 can actually do that, I'm happy to agree with that if it will help us move closer to
16 consensus.

17 DR. HARRIS: Dr. Burke.

18 DR. BURKE: I think it's very disparate. It's a very long study to show benefits of
19 the general public, and I think it's more of a question that this initially be FDA approved
20 is a very strong statement. It needs efficacy and safety and definite benefit. I just
21 wonder if, for now, that FDA approval should be for use by dermatologists and primary

1 care physicians, and I don't know if we should have it FDA approved as a standalone
2 measurement for the general public.

3 DR. HARRIS: Okay. Dr. Ballman.

4 DR. BALLMAN: I think there's benefit beyond mortality, and I was not suggesting
5 mortality. If they can that the detection rates above what the going prevalence is in the
6 population or something like that... but I think it's up to the manufacturers to define what
7 that benefit is and to show it. And I don't think it's fair just to say it has to be
8 dermatologists. It's never going to be, unless you guys want to be out of a job. It's
9 never going to be at the level of a dermatologist, unless we use Dr. Skates's metric.
10 But, again, you've got to think about what the population is that's being served and if
11 there's benefit, because they don't have access at all, I think that might be something
12 meaningful. But I think it's up to the manufacturers to demonstrate benefit, however,
13 they can demonstrate it, and how they define it. I wasn't suggesting it be an
14 improvement in mortality.

15 DR. HARRIS: Thank you. I have to say that we are getting a bit circular in our
16 discussions, because we have been here before, and not everyone is going to agree on
17 every point. If there's any new points to be made, as I said before, I'm not too sure, Dr.
18 Asher and Dr. Chen, that we're going to better summarize what you've heard. Dr.
19 Rotemberg?

20 DR. ROTEMBERG: By the way, I agree that I use mortality as an example, but
21 there's many ways to measure benefit. The one thing I would say is I don't think Table
22 5 is an accurate demonstration of a dermatologist ability in the general population.

1 Those measures are of dermatologists in patients who came to the dermatologist,
2 potentially for a suspicious lesion. So, when we are thinking about what we expect of
3 standards for the lay population and if we are going to say, we want to say it's a
4 dermatologist level ability, then we need to do a study in the general population of
5 patients who do not go to the dermatologist and compare an in-person dermatology
6 assessment with that of a layperson app. Because I expect the sensitivity of specificity
7 are going to be much higher than that Table in a low-prevalence population.

8 DR. HARRIS: Any other comments? Any other comments from FDA?

9 DR. ASHER: None from me. We really appreciate your thoughtful discussion
10 and really considering the issues fully. Thank you.

11 DR. HARRIS: [4:20 p.m.] I would like to thank the Panel, the FDA and guest
12 presenters, and all the Open Public Hearing speakers for their contributions to today's
13 meeting. This meeting of the General and Plastic Surgery Devices Panel is now
14 adjourned.