

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

PHARMACY COMPOUNDING ADVISORY COMMITTEE (PCAC)

Afternoon Session

Virtual Meeting

Wednesday, June 8, 2022

1:45 p.m. to 5:12 p.m.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Meeting Roster

DESIGNATED FEDERAL OFFICER (Non-Voting)

Takyiah Stevenson, PharmD

Division of Advisory Committee and

Consultant Management

Office of Executive Programs, CDER, FDA

PHARMACY COMPOUNDING ADVISORY COMMITTEE MEMBERS

(Voting)

Robin H. Bogner, PhD

Professor

University of Connecticut

School of Pharmacy

Department of Pharmaceutical Sciences

Storrs, Connecticut

1 **Timothy D. Fensky, RPh, DPh, FACA**

2 *(National Association of Boards of Pharmacy*

3 *Representative)*

4 Chief Pharmacy Operations Officer

5 Sullivan's Pharmacy and Medical Supply, Inc.

6 Sullivan's Health Care, Inc.

7 Roslindale, Massachusetts

8

9 **Sandra J. Fusco-Walker**

10 *(Consumer Representative)*

11 Allergy & Asthma Network

12 Vienna, Virginia

13

14 **Anita Gupta, DO, MPP, PharmD**

15 Assistant Professor, Adjunct

16 Johns Hopkins School of Medicine

17 Department of Anesthesiology and Critical Care

18 Baltimore, Maryland

19 Chief Executive Officer

20 Strata Group, Inc.

21 La Jolla, California

22

1 **Kathleen M. Gura, PharmD, BCNSP, FASHP, FASPEN**

2 Assistant Professor of Pediatrics

3 Harvard Medical School

4 Manager, Pharmacy Clinical Research Program

5 Boston Children's Hospital

6 Boston, Massachusetts

7

8 **Linda F. McElhiney, PharmD, RPh, MSP, FAPC,**

9 **FACA, FASHP, DPLA**

10 Team Lead Compounding Pharmacist

11 Indiana University Health

12 Indianapolis, Indiana

13

14 **Kuldip R. Patel, PharmD, FASHP**

15 Senior Associate Chief Pharmacy Officer

16 Duke University Hospital

17 Durham, North Carolina

18

19

20

21

22

1 **Elizabeth Rebello, RPh, MD, FASA, CPPS, CMQ**

2 Professor

3 Department of Anesthesiology and Perioperative
4 Medicine

5 University of Texas MD Anderson Cancer Center
6 Houston, Texas

7

8 **Brian Serumaga, PhD**

9 *(United States Pharmacopeia Representative)*

10 Senior Manager, Personalized Medicines

11 United States Pharmacopeial Convention

12 Rockville, Maryland

13

14 **Allen J. Vaida, BSc, PharmD, FASHP**

15 *(Acting Chairperson)*

16 Former Executive Vice President

17 Institute for Safe Medication Practices

18 Hatfield, Pennsylvania

19

20

21

22

1 **PHARMACY COMPOUNDING ADVISORY COMMITTEE MEMBERS**

2 **(Non-Voting)**

3 **Michael D. Bui, DDS, MPH, JD**

4 *(Industry Representative)*

5 Senior Vice-President, Global Regulatory Affairs

6 Pyxis Oncology

7 Cambridge, Massachusetts

8
9 **ACTING INDUSTRY REPRESENTATIVE TO THE COMMITTEE**

10 **(Non-Voting)**

11 **Richard L. Green, BS Pharm, RPh, BCNP, FAPhA**

12 Director of Radiopharmacy Practice

13 Cardinal Health Nuclear and Precision Health

14 Butler, Tennessee

15
16 **TEMPORARY MEMBERS (Voting)**

17 **David N. Assis, MD**

18 *(Ammonium Tetrathiomolybdate Topic Only)*

19 Associate Professor of Medicine

20 Digestive Diseases

21 Yale School of Medicine

22 New Haven, Connecticut

1 **John N. Caviness, MD**

2 *(Ammonium Tetrathiomolybdate Topic Only)*

3 Professor

4 Mayo Clinic

5 Mayo Clinic College of Medicine

6 Department of Neurology

7 Scottsdale, Arizona

8

9 **Srinivasan Dasarathy, MD**

10 *(Ammonium Tetrathiomolybdate Topic Only)*

11 Howard and Helen Trevey Endowed Professor of

12 Medicine

13 Cleveland Clinic Lerner College of Medicine at

14 Case Western Reserve University

15 Director, Liver Metabolism Research

16 Staff, Departments of Inflammation and Immunity

17 and Departments of Gastroenterology, Hepatology

18 Cleveland Clinic

19 Cleveland, Ohio

20

21

22

1 **David L. Eisenberg, MD, MPH, FACOG**

2 *(Ferric Subsulfate Topic Only)*

3 Associate Professor

4 Associate Director, Division of Family Planning

5 Department of Obstetrics and Gynecology

6 Washington University in St. Louis School of

7 Medicine

8 St. Louis, Missouri

9

10 **Jorge A. Garcia, MD, FACP**

11 *(Ammonium Tetrathiomolybdate Topic Only)*

12 Chair, Division of Solid Tumor Oncology

13 George and Edith Richman Distinguished Scientist

14 Chair

15 Professor of Medicine and Urology

16 Genitourinary Medical Oncology Program

17 University Hospitals Seidman Cancer Center

18 Case Comprehensive Cancer Center

19 Case Western Reserve University

20 Cleveland, Ohio

21

22

1 **Michael K. Lindsay, MD, MPH**

2 *(Ferric Subsulfate Topic Only)*

3 Professor of Gynecology and Obstetrics

4 Director Division Maternal Fetal Medicine

5 Emory University

6 Atlanta, Georgia

7

8 **Jorge J. Nieva, MD**

9 *(Ammonium Tetrathiomolybdate Topic Only)*

10 Associate Professor of Clinical Medicine

11 Section Head, Solid Tumors

12 Keck School of Medicine

13 University of Southern California

14 Norris Comprehensive Cancer Center

15 Los Angeles, California

16

17

18

19

20

21

22

1 **FDA PARTICIPANTS (Non-Voting)**

2 **Frances Gail Bormel, RPh, JD**

3 Director

4 Office of Compounding Quality and Compliance

5 (OCQC)

6 Office of Compliance (OC), CDER, FDA

7

8 **Kathleen Anderson, PharmD**

9 Deputy Director for Compliance and Operations

10 OCQC, OC, CDER, FDA

11

12 **Gabrielle Cosel, MSc**

13 Director

14 Division of Compounding Policy and Outreach (DCPO)

15 OCQC, OC, CDER, FDA

16

17 **Rosilend Lawson, VMD, JD**

18 Branch Chief

19 DCPO, OCQC, OC, CDER, FDA

20

21

22

1 **Charles Ganley, MD**

2 Director

3 Office of Specialty Medicine (OSM)

4 Office of New Drugs (OND), CDER, FDA

5

6 **Daiva Shetty, MD**

7 Associate Director for Pharmacy Compounding

8 OSM, OND, CDER, FDA

9

10 **Marianne San Antonio, DO**

11 *(Lorcaserin Hydrochloride Topic Only)*

12 Physician

13 Pharmacy Compounding Review Team

14 OSM, OND, CDER, FDA

15

16 **Raquel Tapia, MD**

17 *(Ammonium Tetrathiomolybdate Topic Only)*

18 Physician

19 Pharmacy Compounding Review Team

20 OSM, OND, CDER, FDA

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Anam Tariq, DO, MHS

(Ferric Subsulfate Topic Only)

Physician

Pharmacy Compounding Review Team

OSM, OND, CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order	
4	Allen Vaida, BSc, PharmD, FASHP	15
5	SECTION 503A BULK DRUG SUBSTANCES LIST	
6	AMMONIUM TETRATHIOMOLYBDATE (ATTM)	
7	FDA Presentation	
8	Raquel Tapia, MD	18
9	Clarifying Questions from the Committee	37
10	Nominator Presentation - Pharmacy Solutions	
11	Mark Rosenberg, MD	59
12	Clarifying Questions from the Committee	69
13	Committee Discussion and Vote	79
14	SECTION 503A BULK DRUG SUBSTANCES LIST	
15	FERRIC SUBSULFATE	
16	FDA Presentation	
17	Anam Tariq, DO, MHS	89
18	Clarifying Questions from the Committee	100
19	Committee Discussion and Vote	112
20		
21		
22		

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Conflict of Interest Statement	
4	Takyiah Stevenson, PharmD	120
5	WITHDRAWN OR REMOVED LIST PROCESS	
6	FDA Presentation	
7	Gabrielle Cosel, MSc	126
8	DRUGS TO BE CONSIDERED FOR THE WITHDRAWN OR	
9	REMOVED LIST - LORCASERIN HYDROCHLORIDE	
10	FDA Presentation	
11	Marianne San Antonio, DO	129
12	Open Public Hearing	136
13	Committee Discussion and Vote	147
14	Adjournment	153
15		
16		
17		
18		
19		
20		
21		
22		

P R O C E E D I N G S

(1:45 p.m.)

Call to Order

DR. VAIDA: Good afternoon, and welcome back, everyone. Before we begin, Dr. Stevenson will introduce the new special government employees and FDA presenters for the afternoon topics.

DR. STEVENSON: Thank you, Dr. Vaida.

This is Takyiah speaking.

Dr. Assis, please introduce yourself.

DR. ASSIS: Hi. This is Dr. David Assis, associate professor of medicine and hepatology at Yale School of Medicine.

DR. STEVENSON: Dr. Caviness?

DR. CAVINESS: Hello. This is John Caviness. I'm professor of neurology in the Division of Movement Disorders in Scottsdale, Arizona. Thanks.

DR. STEVENSON: Dr. Dasarathy?

(No response.)

DR. STEVENSON: Dr. Dasarathy, if you are speaking you may be on mute in the Adobe room.

1 DR. DASARATHY: Can you hear me?

2 DR. STEVENSON: Yes, we can.

3 DR. DASARATHY: My name is Dasarathy. I'm a
4 professor of medicine and transplant hepatology at
5 the Cleveland Clinic. My expertise is ammonia and
6 metabolism in multiple organs and ammonia
7 utilization. Thank you.

8 DR. STEVENSON: Dr. Eisenberg?

9 DR. EISENBERG: Hi. Good afternoon. My
10 name is Dr. David Eisenberg. I'm an associate
11 professor in the Department of OB/GYN at Washington
12 University School of Medicine in St. Louis, in the
13 Division of Complex Family Planning.

14 DR. STEVENSON: Dr. Garcia?

15 DR. GARCIA: Good afternoon. Jorge Garcia.
16 I'm a professor of medicine and urology and the
17 chairman of hematology and oncology at University
18 Hospitals Seidman Cancer Center and the Case
19 Comprehensive Cancer Center in Cleveland, Ohio.
20 I'm a GU medical oncologist.

21 DR. STEVENSON: Dr. Lindsay?

22 DR. LINDSAY: Hi. I'm Michael Lindsay, a

1 professor of OB/GYN, Emory University, Division of
2 Maternal Fetal Medicine.

3 DR. STEVENSON: Dr. Nieva?

4 DR. NIEVA: Hi. This is Jorge Nieva. I'm a
5 thoracic medical oncologist at the University of
6 Southern California, Norris Comprehensive Cancer
7 Center.

8 DR. STEVENSON: I will now introduce the FDA
9 participants for the afternoon session.

10 Dr. Marianne San Antonio?

11 DR. SAN ANTONIO: Hi. Good afternoon. This
12 is Marianne San Antonio. I'm a physician with the
13 Pharmacy Compounding Review Team in the Office of
14 Specialty Medicine, Office of New Drugs.

15 DR. STEVENSON: Dr. Tapia?

16 DR. TAPIA: Good afternoon. I am Raquel
17 Tapia. I'm also a physician with the Pharmacy
18 Compounding Review Team in the Office of New Drugs.

19 DR. STEVENSON: Dr. Tariq?

20 DR. TARIQ: Good afternoon. My name is Anam
21 Tariq. I am also a physician in the Pharmacy
22 Compounding Review Team of the Office of Specialty

1 Medicine in OND, Office of New Drugs.

2 DR. STEVENSON: Thank you very much. I will
3 hand it back to the chair.

4 DR. VAIDA: Thank you.

5 We will now proceed with the FDA
6 presentation on ammonium tetrathiomolybdate from
7 Dr. Raquel Tapia.

8 **FDA Presentation - Raquel Tapia**

9 DR. TAPIA: Thank you.

10 Good afternoon. My name is Raquel Tapia. I
11 am a physician with the Pharmacy Compounding Review
12 Team in the Office of New Drugs. I will be
13 discussing ammonium tetrathiomolybdate, referred to
14 as ATTM throughout the presentation. I'd like to
15 recognize the entire evaluation team, as well as
16 the contribution of many other FDA colleagues who
17 helped in this evaluation. Our special thanks to
18 the Divisions of Hepatology and Nutrition;
19 Neurology; and Oncology in OND.

20 ATTM was nominated for inclusion on the
21 503A Bulks List for use in compounding. It was
22 evaluated for Wilson disease and copper chelation

1 therapy in breast, kidney, prostate, colorectal,
2 esophageal cancer, and malignant pleural
3 mesothelioma. The proposed dosage form is oral
4 capsule, 20 to 60 milligrams.

5 These are the four factors we considered for
6 our evaluation of ATTM. ATTM is the ammonium salt
7 of tetrathiomolybdate, referred to as TTM, which is
8 the active moiety of ATTM. This is a copper
9 chelating agent sensitive to oxygen, but decomposes
10 at room temperature, so it is likely to be stable
11 only if protected from moisture and air when
12 compounded as capsule.

13 Note that due to structural relevance, some
14 discussion in this evaluation is from references
15 that use the term TTM instead of ATTM. Such
16 discussion is only to provide supportive
17 information for the evaluation of ATTM.

18 ATTM has been studied in animal models where
19 it has shown to form a complex with food protein
20 and copper to prevent copper absorption. In rats,
21 oral dosing decreased copper hepatic and renal
22 uptake by increasing plasma retention of copper.

1 In dogs, oral and IV TTM increased serum copper
2 levels, indicating copper mobilization from tissue.
3 In sheep, prolonged subcutaneous dosing resulted in
4 molybdate accumulation in multiple organs,
5 including the brain and the pituitary.

6 Acute toxicity information was not found. A
7 repeat oral dose-toxicity study in dogs showed that
8 1 of 10 dogs developed immune-mediated anemia and
9 thrombocytopenia. In a dog model of
10 copper-associated hepatopathy, CAH, copper levels
11 decreased from baseline, whereas there was a
12 significant increase in hepatic molybdenum. This
13 suggests that TTM can decrease copper in some dogs
14 with CAH.

15 The toxicology profile of ATTM has been
16 described in the presence of copper. In weanling
17 rats, ATTM with copper resulted in malformations of
18 growing bones. In sheep, exposure to ATTM with
19 copper resulted in fertility problems and marked
20 morbidity, including atrophy of the pituitary and
21 adrenal glands, testicular atrophy, and ovarian
22 cysts. Genotoxicity and carcinogenicity studies

1 were not found.

2 In conclusion, TTM may be associated with an
3 increased incidence of immune-mediated anemia and
4 thrombocytopenia in dogs. Exposure to TTM with
5 copper resulted in developmental malformations of
6 growing bones, as well as pituitary, adrenal, and
7 fertility problems in rats and sheep. No studies
8 were found assessing genotoxicity or
9 carcinogenicity potential of TTM.

10 We did not find PK data in humans. Two
11 possible mechanisms of TTM with copper has been
12 described. With food, it binds with copper and
13 food protein, preventing copper absorption. This
14 complex then mixes with bile in the intestine and
15 is eliminated in the stools. Without food, it is
16 absorbed into the blood where it binds to copper
17 and albumin, making free copper unavailable for
18 cellular uptake. It is then metabolized and
19 eliminated via biliary excretion.

20 For the clinical evaluation and safety
21 evaluation, we considered the following sources.
22 We searched the FAERS database for reports listing

1 ATTM in an adverse events report. Sixteen reports
2 were identified. The main reason for ATTM use was
3 malignancy in 13 of 16 cases, and two reports on
4 Wilson disease. Daily doses were variable. All
5 cases reported serious outcomes, including death in
6 a patient with hepatocellular carcinoma, which was
7 determined unlikely related to ATTM.

8 These are the most common adverse events,
9 AEs, which will be briefly described in the next
10 few slides. Anemia, leukopenia, and neutropenia
11 were reported. The onset was after 14 days after
12 starting ATTM, suggesting a temporal association.
13 Some patients required blood transfusion or
14 hospitalization, and ATTM was discontinued in
15 7 patients. Five were rechallenged with a lower
16 dose, and one had persistent anemia. Also reported
17 were acute and chronic pulmonary embolic diseases
18 and palpitations, but these were confounded by the
19 underlying disease, concomitant medications, or
20 limited by insufficient documentation.

21 Hepatic abnormalities were reported in the
22 two patients with Wilson disease. One had elevated

1 AST and ALT 4 times the baseline after treatment
2 with ATTM. The levels returned to baseline with
3 temporary hold and dose production. The other
4 patient had significant elevation in the liver
5 enzymes one week after the dose was increased. AST
6 and ALT peaked above 1000 and returned to baseline
7 when ATTM was discontinued.

8 Now I will discuss clinical studies in
9 Wilson disease. We reviewed 5 studies with safety
10 assessments in patients treated with ATTM for
11 8 weeks. Doses ranged between 120 to
12 410 milligrams per day. The first study of
13 17 patients reported no toxicity.

14 The second study, which includes 16 new
15 patients plus the 17 patients reported in the 1994
16 study, reported a patient who developed significant
17 anemia with hemoglobin decrease from 13 to
18 7.5 grams. The anemia improved with cessation of
19 ATTM and recurred with re-initiation. A bone
20 marrow exam on this patient showed depression of
21 hematopoiesis in the red blood cell line. In
22 addition, 9 of the 16 new patients had elevated AST

1 at 5 weeks and returned to baseline with
2 discontinuation of ATTM.

3 In the next study, which includes 22 new
4 patients and the 33 patients from the previous two
5 studies, 5 of the 22 new patients developed bone
6 suppression with a decrease in the mean hemoglobin,
7 WBC, and platelet count. Three patients had
8 elevated liver enzymes with a mean ALT that was
9 10 times the normal by 5 weeks. Other liver
10 enzymes were elevated as well. Per authors, bone
11 marrow suppression and liver enzyme elevation can
12 occur with rapid dose escalation.

13 This is a randomized-controlled study
14 comparing ATTM with trientine. Anemia and
15 neutropenia occurred in 4 patients on ATTM versus
16 1 patient on trientine, and elevated liver enzymes
17 in 4 patients on ATTM versus zero on trientine.
18 During follow-up, there were 2 deaths in ATTM and
19 4 deaths in the trientine group determined
20 unrelated to the drug. In another study of
21 5 patients, 1 patient also developed anemia,
22 leukopenia, and liver enzyme elevation with ATTM.

1 This resolved after withholding ATTM for 1 week and
2 resuming at half the dose.

3 To summarize, available data on safety of
4 ATTM in Wilson disease are limited to few small
5 studies, mostly open-label, uncontrolled studies.
6 But despite the paucity of data, studies have
7 raised considerable safety concerns, particularly
8 potential bone marrow suppression and liver
9 dysfunction that appear to be related to ATTM use.
10 There is also concern regarding the lack of safety
11 data on the use of ATTM in pediatrics and pregnant
12 women who have Wilson disease.

13 We found 7 clinical studies and a case
14 report with safety assessment on ATTM in cancer
15 treatment. Doses of ATTM, study size, and length
16 of treatment varied. The most common AEs were bone
17 marrow suppression and GI complaints. Dizziness
18 and deep venous thrombosis, DVT, was also reported.
19 It is unknown whether DVT may have been related to
20 ATTM.

21 This is a case report of a female patient
22 with cancer, self-treated with ATTM obtained via

1 the internet, who developed severe neutropenia and
2 severe copper deficiency, as evidenced by a
3 significant decrease in serum copper and
4 ceruloplasmin levels. This case illustrates
5 concern for potential misuse and clinically
6 significant copper depletion associated with ATTM.
7 Please note that copper is an essential trace
8 element necessary for the activity of many key
9 enzymes. Ceruloplasmin is a marker of copper
10 status.

11 In conclusion, many safety concerns
12 associated with ATTM use include bone marrow
13 suppression and hepatotoxicity, which are
14 potentially serious. There are also concerns of
15 clinically significant copper removal associated
16 with ATTM and the lack of safety data in pregnant
17 women and children. There are approved therapies
18 for the treatment of Wilson disease and cancer that
19 have met established criteria for safety and
20 effectiveness, and are labeled to inform their safe
21 use.

22 Before switching gears to effectiveness, let

1 me give you an overview of Wilson disease and its
2 treatment. Wilson disease is rare. It's caused by
3 mutations in a copper transporter gene, leading to
4 copper excess and accumulation most notably in the
5 liver, the brain, and the eyes, causing organ
6 damage. Signs and symptoms include chronic liver
7 disease, neurologic abnormalities, and psychiatric
8 disturbances. It is a serious progressive
9 condition. It is fatal if untreated. The
10 treatment goal is to reduce the amount of copper
11 that has built up and maintain a copper level
12 within a desirable range. It may be diagnosed in
13 children.

14 The 2008 AASLD report recommends a chelating
15 agent, either penicillamine, usually first line, or
16 trientine, which was approved for patients unable
17 to tolerate penicillamine, as initial treatment for
18 symptomatic patients. For pre-symptomatic patients
19 or those on maintenance, a chelating agent or zinc
20 may be used. Treatment should be continued
21 throughout pregnancy, some patients may need a
22 liver transplant, and treatment is lifelong unless

1 liver transplant has been performed.

2 There are no approved drug products that
3 contain ATTM. It has been studied for breast
4 cancer under an IND, and an NDA was submitted
5 seeking approval for Coprexa for the treatment of
6 initially presenting neurologic Wilson disease. In
7 2008, the sponsor announced that the NDA was issued
8 a Refuse to File letter by FDA, which cited, among
9 other deficiencies, issues concerning adequacy of
10 clinical effectiveness and efficacy, and requested
11 for a short-term reproductive drug safety study in
12 animals.

13 Now I'll summarize information on the
14 effectiveness of Wilson disease. We've reviewed
15 three phase 2 open-label studies with efficacy
16 outcomes in a total of 55 patients presenting with
17 neurologic symptoms. The main study objectives
18 were to test the efficacy and toxic effects of
19 ATTM. Neurologic assessments were performed using
20 quantitative neurologic and speech scales at
21 baseline weekly for 8 weeks, then yearly.

22 ATTM was given daily, orally for 8 weeks,

1 followed by oral zinc as maintenance. The study
2 found that only 4 percent of the patients showed
3 neurologic deterioration, and there was gradual
4 improvement over time, however, these studies are
5 of limited utility because the duration of
6 treatment was too short. It is difficult to
7 evaluate efficacy based on an 8-week trial that is
8 looking for evidence of neurologic deterioration in
9 a chronic neurologic condition like Wilson's.
10 Also, these are single-arm studies, and
11 interpretation of efficacy is difficult without a
12 comparator. Also, the scales used are of limited
13 utility, as they lack validation. The studies are
14 also limited by a significant amount of missing
15 data.

16 The next study compared ATTM to trientine on
17 neurologic worsening. Patients were treated for
18 8 weeks with either ATTM plus zinc or trientine
19 plus zinc. All patients continued zinc maintenance
20 after this study.

21 Neurological assessments were done with the
22 same scales as the previous 3 studies, weekly for

1 3 weeks, then yearly for 3 years. The authors
2 found neurologic deterioration in one of
3 25 patients in the ATTM group versus 6 of 23
4 patients in the trientine group. However, like the
5 previous studies, this study is also of limited
6 utility because the treatment duration was too
7 short and the scales lacked validation, so the
8 clinical relevance is unclear.

9 Next is an uncontrolled longitudinal study
10 in 5 patients also treated with ATTM,
11 120 milligrams, followed by oral zinc for
12 maintenance. Note that two additional neurologic
13 scales were used for their neurologic assessment.
14 The study reported neurologic improvement in all
15 patients by 3 months. But again, this is an
16 open-label study, only 5 patients, short duration
17 of treatment, and this study should be confirmed in
18 a larger randomized trial.

19 Now moving on to effectiveness in cancer,
20 here are important points to help us understand
21 ATTM nomination as a copper chelator in cancer
22 treatment. Cancer involves abnormal cell growth

1 along with invasion, dissemination, and metastasis.
2 It is hypothesized that progression of cancer cells
3 is dependent on copper. Copper levels in cancer
4 cells are higher than in normal cells, and copper
5 is an important co-factor for angiogenic growth
6 factors and cytokines that are critical for tumor
7 angiogenesis. Angiogenesis is the formation of new
8 capillary branches from existing blood vessels and
9 is controlled by a balance on stimulating and
10 inhibiting factors.

11 We identified two studies of ATTM in breast
12 cancer patients at high risk for recurrence. The
13 first one is an open-label study, single arm, in
14 39 patients. Standard cancer treatment was
15 completed at least 6 weeks prior to this study.
16 Concurrent hormonal therapy was allowed. The
17 treatment was for 2 years or until relapse.

18 The authors found copper depletion in
19 75 percent of the patients by 1 month and reduced
20 bone marrow-derived endothelial progenitor cells,
21 EPCs, which are considered critical for metastatic
22 progression. Sixty-nine percent of the patients

1 had no relapse, and the authors concluded that ATTM
2 may ultimately prevent relapse, but that a larger
3 randomized trial would be needed to confirm this.

4 The other study is also open label, single
5 arm in 75 patients. ATTM treatment was for 2 years
6 or until relapse; and, again, standard cancer
7 treatment was completed prior to study, and
8 concurrent hormonal therapy was allowed. The study
9 showed that copper depletion correlates with
10 reduced EPCs and other biomarkers, and event-free
11 survival was 73 percent with an overall survival
12 over 84 percent at a median follow-up of 6 years.

13 The authors concluded that while these
14 results are encouraging, they need to be confirmed
15 in a larger randomized, placebo-controlled trial.
16 So these two studies do not provide adequate
17 evidence that ATTM contributes to clinical response
18 because the trials were single arm and patients
19 continued to receive other cancer therapy.

20 The next two studies are also single arm,
21 evaluating TTM anti-tumor activity and the effect
22 on several angiogenic factors. One was in patients

1 with advanced kidney cancer, where the patients
2 received standard therapy, cancer therapy, at least
3 4 weeks prior to the study and received TTM for
4 6 months. Overall, the 6-month progression-free
5 rate was only 31 percent, and the authors concluded
6 that TTM alone showed no efficacy in patients with
7 advanced kidney cancer.

8 The other study in patients with
9 hormone-refractory prostate cancer did not show any
10 significant change during therapy in the levels of
11 angiogenic factors tested. The study was
12 terminated after enrollment of 19 patients due to
13 cancer progression. The authors concluded that
14 copper depletion with TTM did not delay disease
15 progression in patients with asymptomatic
16 metastatic hormone-refractory prostate cancer.

17 The next study is for malignant pleural
18 mesothelioma, MPM. This is a phase 2 study,
19 evaluating the effect of copper depletion on
20 progression and survival after cytoreductive
21 surgery in 30 patients compared to 169 historical
22 controls. The study showed a slight advantage in

1 terms of time to progression for stages I and II,
2 20 months versus 10 months, but the time to
3 progression for stage III, a median of 7 months,
4 was no different from historical controls.

5 The authors concluded that TTM has some
6 anti-angiogenic effect in MPM after surgical
7 resection, but also concluded that the study has
8 potential for bias because it is not randomized,
9 and recommended validating the study with a larger
10 randomized trial.

11 The next two are single-arm studies, one in
12 metastatic colorectal cancer and the other in
13 esophageal cancer. A pilot study on 24 colorectal
14 cancer patients evaluated TTM in combination with
15 chemotherapy. The authors found no correlation
16 between baseline serum cytokine levels and time to
17 progression. Tumor progression was seen in all
18 patients within 5 months.

19 In the esophageal cancer study, 69 patients
20 received TTM for 4 weeks after standard cancer
21 treatment. A comparison was made with
22 69 historical controls. The authors found no

1 statistically significant difference in
2 disease-free or overall survival after 3 years and
3 no association between decreased level of
4 ceruloplasmin and recurrent-free survival or
5 overall survival.

6 In conclusion, there is insufficient
7 information to support the effectiveness of ATTM
8 for the treatment of Wilson disease and as copper
9 chelation therapy in the various types of cancers
10 we evaluated. There are currently available
11 FDA-approved therapies with established efficacy
12 for the treatment of Wilson disease and for these
13 cancers.

14 Lastly, this is what we found on historical
15 use of ATTM in compounding. It has been used since
16 1984. It has been evaluated in various other
17 conditions, in addition to Wilson disease and
18 cancer. One outsourcing facility reported
19 compounding ATTM capsule in 2017, and an internet
20 search reveals 4 compounding pharmacies within the
21 United States. One Australian compounding pharmacy
22 advertised compounding ATTM capsules. The

1 International Journal of Pharmaceutical Compounding
2 published compounding formulations for ATTM
3 20-milligram and 50-milligram capsules, but there
4 is no compounded drug product monograph for any
5 dosage form in the U.S. Pharmacopeia or the NF. In
6 conclusion, there is evidence of the historical and
7 current use of ATTM in compounding both within and
8 outside the United States.

9 To summarize our evaluation, ATTM can be
10 characterized, but it is likely to be stable only
11 if protected from moisture and air when compounded
12 as capsules. Regarding safety, in nonclinical
13 studies, ATTM resulted in malformation in growing
14 bones. Clinical studies in adults have raised
15 concerns of liver toxicity and bone marrow
16 suppression. Other potential concerns include
17 significant copper removal and a lack of safety
18 data in pregnant women and children.

19 Likewise, there is insufficient information
20 to support the effectiveness in Wilson disease and
21 as chelation therapy in cancer. There are
22 available FDA-approved therapies with established

1 efficacy for the treatment of Wilson disease in
2 cancer, and the existence of approved drugs to
3 treat these serious conditions weighs against
4 including ATTM on the 503A list for compounding.
5 There is evidence that ATTM is used in compounding
6 as an oral formulation both within and outside the
7 United States.

8 After considering the information currently
9 available, a balancing of the four evaluation
10 criteria weighs against ammonium tetrathiomolybdate
11 being added to the list of bulk drug substances
12 that can be used in compounding under 503A of the
13 FD&C Act. Thank you very much, and this concludes
14 my presentation.

15 **Clarifying Questions from the Committee**

16 DR. VAIDA: Thank you.

17 We will now take clarifying questions for
18 the FDA presenter. Please use the raise-hand icon
19 to indicate that you have a question, and remember
20 to clear the icon after you have asked your
21 question. When acknowledged, please remember to
22 state your name for the record. If you wish for a

1 specific slide to be displayed, please let us know
2 the slide number, if possible.

3 Finally, it would be helpful to acknowledge
4 the end of your question with a thank you, and the
5 end of your follow-up question with, "That is all
6 for my questions," so we can move on to the next
7 panel member.

8 Dr. Nieva, do you have a question?

9 DR. NIEVA: Thank you. This is Jorge Nieva
10 from USC.

11 Dr. Tapia, was the bone marrow suppression
12 that was seen with ATTM treatment associated with
13 the typical vacuolization of copper deficiency or
14 was the cytopenia felt to be due to some mechanism
15 other than generation of copper deficiency?

16 DR. TAPIA: Thank you for your question.

17 In these studies, I believe that the quality
18 assessment considered the impact of ATTM on copper,
19 so it may be related to copper depletion rather
20 than direct toxicity of the molecule on the bone
21 marrow.

22 DR. NIEVA: Thank you. And just to follow

1 up, you mentioned in the studies evaluating the
2 efficacy of ATTM that the treatment duration was
3 too short to be able to evaluate its effect.
4 However, you also stated that the patients were
5 treated with another copper chelator as maintenance
6 therapy, which was oral zinc and that treatment
7 duration was 8 weeks. And in slide 30, you said
8 that in one study, 75 percent of patients were
9 depleted of copper within one month.

10 Can you explain to me why you think that a
11 strategy of what sounds like copper depletion
12 followed by maintenance therapy was too short to
13 evaluate the impact of copper deficiency? Thank
14 you.

15 DR. TAPIA: Yes. Thanks again for your
16 question. I believe you are referring to the
17 studies on Wilson disease. Give me one second to
18 get that slide up. I think it's slide 25.

19 DR. NIEVA: I can't recall the slide number
20 for the --

21 DR. TAPIA: Yes. I think they can pull it
22 up for us, slide 25.

1 Yes. For the treatment of Wilson disease,
2 Wilson disease being a chronic neurological
3 condition, the treatment of 8 weeks of therapy is
4 kind of too short if we are looking for evidence of
5 effectiveness in a chronic condition like Wilson
6 disease. I would ask, if possible, from our
7 colleagues in the neurology division, if they can
8 provide additional comments on that.

9 DR. PODSKALNY: Hello. This is Dave
10 Podskalny. I'm a movement disorders neurologist in
11 the Division of Neurology I at the FDA.

12 Sure. Neurologic symptoms are uncommon in
13 Wilson's disease. Somewhere estimates vary between
14 30 and 60 percent, and Wilson's is already a rare
15 disease. Generally, there's not a large number of
16 controlled studies or active comparator studies for
17 neurologic symptoms of Wilson's disease. However,
18 what's been learned is that patients who present
19 with neurologic symptoms, about half to 65 percent
20 never improve -- excuse me; about half to
21 65 percent have symptoms. Those patients may never
22 improve despite years of treatment. I think

1 studies have gone out to 4 to 5 years.

2 Some of the patients improve, depending, in
3 part, how long their symptoms have been present,
4 [indiscernible]. But there is no timetable for
5 either getting better or worsening. Sudden
6 worsening was thought to occur because of
7 mobilization, too much copper into the circulation,
8 [indiscernible] deposits into the tissues,
9 including the brain.

10 There is no clear theory or mechanism for
11 people who continue to worsen or show no
12 improvements. There are many mechanisms for that
13 such as not [inaudible - audio gap] for Wilson's
14 disease.

15 DR. NIEVA: Thank you. I'm a bit confused,
16 perhaps. Is the FDA position that this drug is not
17 an effective copper chelator, or is it the position
18 that this product has concerning off-target
19 toxicity?

20 Dr. Tapia, maybe you would be the best
21 person to ask that.

22 DR. TAPIA: Yes, I guess I can start. Thank

1 you for your question. I can start, and then,
2 again, our colleagues in hepatology and neurology,
3 please feel free to jump in for additional
4 comments.

5 Available data on the effectiveness of ATTM,
6 like we mentioned, in Wilson disease are limited to
7 few, mostly open-label, uncontrolled studies of
8 short duration. But a significant issue in the
9 evaluation of chelating drugs for the treatment of
10 Wilson disease is the direct demonstration that the
11 drug treatment results not only in copper
12 mobilization into the circulation, but removal of
13 copper from the body and reducing the pathologic
14 overload of copper.

15 As discussed in the nonclinical session,
16 copper bound to TTM and protein is primarily
17 eliminated via the biliary system in the feces,
18 however, there is no clinical data to confirm this.
19 While available data may allow evaluation of the
20 ability of ATTM to mobilize copper into the
21 circulation, currently available data is
22 insufficient to allow the evaluation of ATTM's

1 ability to remove and eliminate copper from the
2 body.

3 We cannot conclude from the studies that the
4 reduction in the neurologic speech scores translate
5 into an improvement in how the patient feels,
6 functions, or survives, so it is also unclear how
7 clinically meaningful within patient's changes in
8 the neurologic scores are; for example, how
9 clinically relevant a change of 5 points in a 0 to
10 37 neurologic scale, how critically relevant that
11 is.

12 So there are concerns -- not concerns.
13 There is limited information or questions that we
14 don't know yet in terms of how ATTM works, and
15 there are also the safety concerns that we
16 mentioned.

17 Any additional information or comments from
18 neurology, please, or hepatology?

19 DR. MAKAR: Hi. This is George Makar from
20 the Division of Hepatology and Nutrition. Can you
21 hear me ok?

22 DR. TAPIA: Yes, we can.

1 DR. NIEVA: Yes.

2 DR. MAKAR: I think from a hepatology
3 perspective, with the current copper chelators such
4 as trientine and D-penicillamine, you're able to
5 monitor the amount of copper excretion in the
6 urine. So not only do you monitor the non-
7 ceruloplasmin down in copper, which has its
8 limitations, but you can also follow 24-hour
9 urine-copper excretion to sort of confirm that
10 you're treating copper and to be able to monitor
11 things in an ongoing basis.

12 With zinc, obviously, it does work
13 differently with the metallothionein, but, in
14 general, the zinc is typically reserved for
15 asymptomatic or for those who have been previously
16 de-coppered, and it's not nearly as profound as a
17 copper binder, and it doesn't work in the same way
18 as D-penicillamine or trientine.

19 The concern for trientine is that because,
20 ostensibly or theoretically, of its relief that's
21 excreted in a biliary process and fecal process,
22 there's not an easy way to monitor copper excretion

1 with the use of this drug, and there isn't a
2 clear-cut that you can see the concern of abnormal
3 liver enzymes or cytopenias; that often they can
4 occur soon after initiation of therapy.

5 What we don't know is, would you need
6 to -- some of these can occur as early as 2 weeks
7 into therapy, so would subjects have to be
8 continually monitored every 2 weeks while they're
9 on this therapy? How would dose adjustment be made
10 for copper overload versus copper deficiency, short
11 of manifestation of toxicity, of either too much or
12 too little copper? And we don't really have data
13 that guides us in a standard-of-care or an
14 objective manner in the same way as we do with the
15 current copper chelating agents.

16 I don't know if that adequately answers your
17 question or not, but happy to answer any follow-up
18 questions.

19 DR. PODSKALNY: Hi. This is Dave Podskalny
20 again from neurology. The trials that were done
21 were open label, and I can't under-emphasize the
22 amount of missing data over the course of time,

1 even over the 8-week follow-up period, but then
2 there was a longer follow-up period. There were
3 people missing baseline assessments, and over the
4 course of 8 weeks, additional people had not had
5 assessments done.

6 So, in essence, you're dealing with about
7 half the data from the available number of
8 patients; so, really, there isn't a great database
9 to pull from. There are patients that worsened
10 during that time. There are patients that
11 fluctuated by a point or two at different time
12 points.

13 Without having data on the reliability and
14 validity of those instruments over time, it really
15 is difficult to understand, even among the patients
16 who appeared to get better, how to interpret that.
17 So it really isn't convincing evidence and even
18 reliable information that we're staking our claim
19 on.

20 DR. NIEVA: Thank you. That concludes my
21 questions.

22 DR. VAIDA: Thank you.

1 Does Dr. McKinnon from the FDA have a
2 comment?

3 DR. MCKINNON: It was already addressed.
4 Thank you.

5 DR. VAIDA: Thank you.

6 Dr. Assis?

7 (No response.)

8 DR. VAIDA: Dr. Assis, do you have a
9 question?

10 DR. ASSIS: Yes. Hi. Dr. Assis from Yale.
11 I have a question just regarding -- and I apologize
12 for not having experience with the compounding
13 arena quite as much, but my understanding of the
14 bulk compounding bar is that these have a potential
15 role for patients who have clinical needs that
16 cannot be met through the standard drug approval
17 process, and clearly this compound would not be
18 nearly close enough to any degree of standard
19 evaluation given the limited data.

20 But I guess I don't have a baseline, from
21 prior experience, as to how incomplete the data is,
22 and missing data, for this compound, even compared

1 to other substances that are being debated under
2 the bulk substances list because I don't have a
3 good benchmark for that. I agree that there's a
4 lot of missing data, but how far in a subjective
5 asking is this from the typical standard that's met
6 under this provision? Thank you.

7 (No response.)

8 DR. VAIDA: Anyone from the FDA?

9 DR. PODSKALNY: Hi. This is Dave Podskalny.
10 In terms of the available data, it's the ability to
11 assess a potential benefit from the known risks,
12 and I think the known risks are potentially
13 serious, as laid out in the slides, and the
14 benefits are either not interpretable or not
15 adequately studied to determine that there's any
16 benefit to the patient in terms of neurologic
17 symptoms.

18 I hope that addresses your questions.

19 Is there any follow-up?

20 DR. ASSIS: No. Thank you.

21 DR. VAIDA: Dr. Garcia?

22 DR. GARCIA: Thank you. Jorge Garcia,

1 University Hospitals, Seidman Cancer Center; just a
2 comment and a question for the FDA.

3 As a drug developer, it's really hard to
4 understand the sample size that was presented in
5 the clinical trials by your group at the FDA, so
6 it's hard for me as a clinical investigator to
7 really make too much when you have a sample size of
8 5, 40, and the like.

9 But perhaps my bigger question is, in a
10 couple of the initial slides from the FDA, it was
11 mentioned that in animal trials there was some
12 concerns about teratogenesis and malformations, yet
13 in the existing data presented by the FDA, there
14 was no comment, and the bulk of the AEs that were
15 reported appeared to be hematologic in nature, as
16 Dr. Nieva addressed before.

17 So my question for the FDA group is, what is
18 the benchmark or the requirements that the group
19 uses when looking at bulk substances that can be
20 used in compounding?

21 DR. BORMEL: Dr. Vaida, this is Gail Bormel.
22 I can answer some of those questions --

1 DR. VAIDA: Sure.

2 DR. BORMEL: -- if that's ok.

3 When we're evaluating the bulk drug
4 substance for inclusion on the 503A Bulks List, we
5 look at four different criteria. We look at the
6 chemistry and the characterization of the bulk
7 substance. We look at the safety of the bulk
8 substance when made into a certain formulation to
9 treating uses. We look at the effectiveness, and
10 then we also look at the historical use.

11 So it's a balancing of these different
12 criteria; there's not a formulation. But what I
13 thought I heard from our experts in the review
14 division is that with this particular substance,
15 there are safety concerns, and the safety concerns
16 do not outweigh -- I'm sorry. The safety concerns
17 are such that they do outweigh any benefit that
18 they're seeing in the studies that are represented,
19 and if I'm wrong on that, I would like the review
20 division experts that have spoken to say something
21 now.

22 So we are weighing these four different

1 criteria, and it's a judgment on what we're seeing,
2 specifically whether it can be made into a
3 compound, whether there are a lot of safety issues
4 or some safety issues that are important and
5 significant, and balancing that with any
6 effectiveness and also the history of use. I hope
7 that helps.

8 DR. TAPIA: This is Dr. Tapia. Again, in
9 addition to that, I would ask that we also take
10 into consideration other factors such as the
11 disease itself or the condition; for example,
12 whether this is a serious or life-threatening
13 condition, and also whether there is approved
14 alternative therapies in our assessment.

15 DR. GARCIA: Can you or your group at the
16 FDA -- again, this is Dr. Garcia, University
17 Hospitals, Seidman Cancer Center. Can you
18 specifically comment as to, again, what are the
19 benchmark requirements? I understand they complete
20 the AE profile of an agent, but specifically I'm
21 asking for genotoxicity or carcinogenicity in the
22 TTM compound.

1 DR. GANLEY: Hi. This is Charley Ganley.
2 I'm not sure what you mean by benchmark. All of
3 the things that we are reviewing are unapproved
4 drugs. In some instances there is going to be that
5 information available, and others, they're not. We
6 take that into account, and in some circumstances,
7 depending on the condition being treated, that
8 becomes more important than other situations.

9 So I think it's an issue for the committee
10 to weigh. Obviously, if it's a short-term
11 treatment, that may weigh differently than if this
12 is the long-term treatment. If the population's
13 going to include young women who have childbearing
14 potential, that weighs into the decision.

15 So there is really no benchmark here. This
16 is the data we have. It's not data generated by
17 us; it's data that we've obtained from the
18 literature or from the nominator. So the committee
19 has to weigh these things into their
20 considerations.

21 Obviously, if we had that data and it showed
22 that there was a potential problem, that would

1 weigh heavily against it. The issue really comes
2 down to, if we don't have that data, does that
3 weigh against it; and if so, how much? But that's
4 something the committee has to take into their
5 deliberations, and I suspect that the opinions are
6 going to vary quite a bit among people.

7 DR. GARCIA: Thank you. That's the end of
8 my question.

9 DR. VAIDA: Thank you.

10 Dr. Gura?

11 DR. GURA: Hi. Kathleen Gura, Boston
12 Children's Hospital; a clarifying question.

13 ATTM received orphan designation status for
14 a treatment of Wilson's disease. How will the
15 decisions this committee makes impact that orphan
16 designation status? Would patients still be able
17 to get it because it's of that status?

18 DR. GANLEY: This is Charley Ganley again.
19 Let me just try to clarify. I'm not sure what
20 people think of orphan designation status. You may
21 get orphan designation status, but that's more
22 important if the drug is approved and has to do

1 with the exclusivity and things like that.

2 So simply because it has orphan status has
3 no meaning that it's been determined that it's
4 effective for safe therapy. All that information
5 has to be collected yet. The impact is greater if
6 someone submits a new drug application or a BLA
7 application and it essentially gets approved, but
8 it's not going to have a status effect on
9 the -- the orphan designation status has no bearing
10 here.

11 DR. GURA: Okay. Thank you very much.

12 DR. VAIDA: Dr. Dasarathy, do you have a
13 question?

14 DR. DASARATHY: Yes. Can you hear me?

15 DR. VAIDA: Yes.

16 DR. DASARATHY: Okay.

17 I heard a lot about the copper. What I did
18 not hear was what would be the consequences of the
19 ammonium salt that is going to be provided? The
20 ammonium salt has a number of effects, even in
21 people who have well-compensated liver function, so
22 it can affect skeletal muscles, which can affect

1 protein responses in the astrocytes.

2 So from the entire presentation, I didn't
3 see anything about the potential toxicity of the
4 associated ammonia. So the assumption is that the
5 ammonium component of the tetrathiomolybdate is not
6 going to have any metabolic or functional effects.
7 I'm done. I'm going to mute myself.

8 DR. TAPIA: This is Dr. Tapia, and thank you
9 for your question. We did not evaluate the
10 specific issues associated with the ammonium salt.

11 DR. DASARATHY: But would that not affect
12 the responses? Because even in malignant cells,
13 ammonium increases or decreases [indiscernible] in
14 a context-dependent manner, and it could affect the
15 responses to other chemotherapeutic agents, as well
16 as growth of the tumors.

17 So I'm just curious what unanticipated
18 effects could occur because of the ammonium part.
19 I tried to look up if there is any of the salt
20 tetrathiomolybdate, which has been studied, which
21 can allow us to dissect out the effect of the
22 ammonium versus the non-ammonium salts of the

1 tetrathiomolybdate. Thank you.

2 DR. TAPIA: Yes. Thank you, again, for your
3 question. Like I mentioned, we did not look into
4 that specifically. I would allow our colleagues
5 from our divisions of neurology or hepatology to
6 have additional comments on that.

7 DR. DASARATHY: Thank you.

8 DR. PODSKALNY: Hi. This is Dave Podskalny
9 from neurology. The studies that you're talking
10 about would be very difficult to do, at least in
11 the context of Wilson's disease, because the
12 patients that were accrued, or the case series that
13 was accrued by Dr. Brewer, started in 1994 and span
14 well over a decade to collect 55 cases.

15 So being an uncommon disease, it becomes
16 very difficult to study specific questions or
17 compare formulations. There are other salts that
18 have been looked at mainly because the ammonium
19 salt is so unstable, but I don't know to the degree
20 of which they've been compared directly, if they
21 have been at all.

22 DR. DASARATHY: Thank you.

1 DR. VAIDA: Dr. Assis, do you have a
2 follow-up question?

3 DR. ASSIS: Yes. Hi. Dr. Assis from Yale.
4 I do have a very quick question, which I don't
5 believe is necessarily directly related, but I just
6 would like to ask. I understand that this meeting
7 is to discuss bulk compounding, and the bar, again,
8 still needs to be met for safety, of course, and
9 efficacy. But these are primarily for compounds
10 that may never see the light of day for patients.

11 I do know there is a phase 3 study of
12 tetrathiomolybdate, which is in phase 3. I think
13 there's probably a little bit of time, still, until
14 that data becomes available. And even though
15 that's a whole separate approval and regulatory
16 pathway, it appears to me that the intent from the
17 investigators or the company would be for that drug
18 to be proposed as a more stable version of this
19 ATTM, which is I think recognized as a problem.

20 So I'm not entirely sure I understand the
21 implications of approval for this, given the
22 limited safety data and some of those concerns,

1 especially if there is a medication that's being
2 tested as a more stable -- and I won't say more
3 safe -- version of this. So I wonder whether there
4 is a need to feel pressed against the wall for this
5 approval, given the fact that there is something
6 that's currently being evaluated in a more rigorous
7 fashion. Thank you.

8 DR. VAIDA: Dr. Bormel, do you have a
9 comment?

10 DR. BORMEL: No. I apologize. I just
11 forgot to clear my raised hand.

12 DR. VAIDA: Alright. Thank you.

13 We'll now proceed with the nominator
14 presentation. We have one presentation from
15 Dr. Mark Rosenberg, who is speaking on behalf of
16 Pharmacy Solutions.

17 DR. ROSENBERG: Thank you.

18 First of all, I just want to make sure
19 everybody can hear me.

20 DR. VAIDA: Yes.

21 DR. ROSENBERG Okay. Thank you. Before I
22 begin, if I can address a question to Dr. Tapia.

1 This ATTM has been compounded for,
2 certainly, I guess in excess of 20 years, and I'm
3 just going to raise a question about safety. And,
4 certainly, we know many different substances that
5 are over the counter that if abused or not taken
6 appropriately can cause many problems, including
7 death.

8 How many deaths were you able to find were
9 related to ATTM since it's been compounded?

10 DR. TAPIA: Yes. Thank you for your
11 question, Dr. Rosenberg. My evaluation of this
12 substance, I did not find any particular death that
13 was directly attributed to the drug.

14 DR. ROSENBERG: Thank you. That answers my
15 question. Thank you.

16 DR. TAPIA: You're welcome.

17 **Nominator Presentation - Mark Rosenberg**

18 DR. ROSENBERG: Okay. I will proceed now
19 and talk about the safety and efficacy of ATTM.

20 As you can see from this slide, there's
21 increasing interest from several research groups in
22 modulating copper bioavailability as a therapeutic

1 strategy, and certainly you can see these published
2 articles in reputable journals. It really is
3 generating a lot of interest now because copper
4 plays a very important role in the tumor
5 microenvironment.

6 I don't want to spend much time -- because I
7 don't have much time -- but this is a pictorial of
8 the hallmarks of cancer as delimited by Dr. Robert
9 Weinberg from MIT, and coming out from this circle
10 are many different mechanisms of action through
11 which cancer progresses and eventually
12 metastasizes.

13 Copper is involved and instrumental in
14 affecting many of these processes, including
15 promoting angiogenesis; mitochondrial oxidative
16 phosphorylation; affecting the tumor
17 microenvironment; affecting the stromal and
18 collagen remodeling; as well as promoting oxidative
19 stress, invasion, migration; and most recently
20 demonstrated that there's a direct correlation
21 between the amount of copper in the tumor
22 microenvironment PD-L1 expression.

1 What you'll see on the right is the
2 tetrathiomolybdate molecule bound to copper, and
3 what we do know and what's been demonstrated is
4 that ATTM will inactivate copper chaperones. For
5 example, Atox, antioxidant protein 1, is found in
6 the cytoplasm and often overexpressed in cancer
7 cells. What it literally does is it will chelate
8 copper and shuttle it to other metalloenzymes that
9 are important for proliferation and progression.

10 What ATTM does is it will bind stably to the
11 complex of Atox 1 with copper and prevent that
12 shuttling. As you know, there's been a phase 3
13 trial. There's a phase 3 trial in Wilson's disease
14 underway, as was recently stated. Mouse models of
15 cancer have shown tumor regression, and phase 1 and
16 2 trials in overt cancer have shown stable disease
17 in humans at best response.

18 Keep in mind that it really does depend on
19 how low you get the ceruloplasmin. A phase 2 study
20 in high risk for recurrent breast cancer, that's
21 been underway for a while with an expected
22 completion date of approximately June of '23.

1 Looking at some of the safety data of TM in
2 advance and high-risk cancers, you can see TM was
3 used alone in stage 4 renal, stage 4 prostate,
4 resected mesothelioma, resected esophageal, and in
5 combination with a 5FU-based regimen in stage 4
6 colorectal cancer. The most prominent side effects
7 that we see are reversible neutropenia, and when I
8 say reversible neutropenia, almost all the time
9 with simply cessation of the drug or decreasing
10 dose, neutropenia rapidly resolves.

11 This is a preclinical model in mice, the
12 MMTV-Her2neu expressing mouse model that was
13 randomized to TM for 180 days versus water control.
14 What is not depicted in this graph is that in the
15 control group, 67 percent of the mice have palpable
16 mammary tumors at 180 days, whereas only 13 percent
17 of the TM group did.

18 As you can see here, the control mice
19 actually developed lung mets, or lung metastases,
20 at day 205, but the TM group did not. However,
21 when TM therapy was withdrawn, the mice developed
22 lung mets at a median of 2 weeks later. So they

1 concluded that TM therapy prevented the development
2 of overt metastases in this model only while they
3 were on TM.

4 The concept is that targeting the tumor
5 microenvironment through a copper depletion
6 strategy can prevent metastases, and TM is safe and
7 well tolerated. A phase 2 study was initiated with
8 TM in those individuals with high risk for
9 recurrent breast cancer, and one of the goals was
10 to embed significant amounts of science with the
11 study to understand the mechanism of action.

12 In this pilot trial, they included breast
13 cancer patients with high risk of relapse and no
14 evidence of disease, so these patients included
15 stage 2, but they had to be the most aggressive,
16 triple-negative breast cancer patients. They
17 included stage 3 and stage 4, but if there were
18 stage 4, they had to have NED or no evidence of
19 disease. No evidence of disease was confirmed by a
20 physical exam; laboratory studies, including tumor
21 markers; and imaging: CT, chest, abdomen and pelvis
22 with bone scan or PET/CT scan. These individuals

1 had to have completion of standard therapy before
2 they were initiated on TM.

3 The patients received daily oral TM for
4 2 years to achieve a ceruloplasmin target level of
5 less than or equal to 17 milligrams per deciliter.
6 The primary endpoint here was endothelial
7 progenitor cell expression of VEGFR2 quantifying
8 that; secondary endpoints for progression-free
9 survival, overall survival, and hematopoietic cell
10 expression of VEGFR1, as well as looking at adverse
11 events and circulating markers in the tumor
12 microenvironment.

13 The accrual for this was completed in 2014.
14 As far as examining the patients, they were
15 examined every 4 weeks, which was one cycle with
16 physical exam; again, basic labs, including CBC,
17 comprehensive metabolic panel, tumor markers,
18 ceruloplasmin level, as well as flow cytometry for
19 bone-marrow-derived progenitors; as well as other
20 research blood, which is banked. In addition,
21 imaging studies with either CT, chest, abdomen,
22 pelvis, and bone scan, or PET CT, was performed

1 every 6 months.

2 There were 75 patients enrolled. One
3 dropped out prior to treatment leaving 74, and
4 24 patients discontinued before two years. The
5 reasons for discontinuation were 12 had developed
6 recurrent disease, three had toxicity, and toxicity
7 was one patient had diarrhea, another patient had a
8 grade 3, B-12 associated anemia, and the third
9 patient had febrile neutropenia and decided not to
10 continue. As far as the nine others, logistically,
11 they just were not able to make the monthly
12 appointments.

13 Fifty-one patients completed two years of
14 ATTM or TM therapy, and then 39 patients continued
15 TM therapy on extension only. Of those 39,
16 25 patients were in the adjuvant group, meaning
17 stage 2 or 3, whereas 14 patients were stage 4 NED.
18 As of March 2020, 16 patients continued on TM, 14
19 of them were stage 4 NED, whereas two of them, it
20 was not clear whether they were stage 3 or stage 4.
21 This study was closed due to the loss of drug
22 supply. The patients transitioned to non-GMP grade

1 material after that. On the right is just simply
2 showing the demographic variables, as well as where
3 the cancer was located, and of course the subtypes
4 of cancer as well.

5 Perhaps the most important slide to look at
6 here is that the grade 3 or 4 adverse events were
7 less than 3 percent; and remember, a cycle was
8 4 weeks, so they looked at a total of 3,478 cycles
9 and, again, it was less than 3 percent. But the
10 most common was neutropenia, again, which was
11 reversible with either cessation of the drug or
12 decreasing dose. The most common side effect that
13 includes all adverse events was sulfur burps.

14 Then we look at survival, and going to a
15 median follow-up of 9.4 years, the event-free
16 survival was 71.4 percent, with overall survival
17 being 64.7 percent; looking at breast cancer
18 specific survival, approximately 80 percent at this
19 follow-up of 9.4 years. When we look at event-free
20 survival by molecular subtype, including
21 triple-negative Her2neu positive and luminal,
22 there's really no difference in outcome, based on

1 the subtype, all hovering at around 70 percent.

2 Then we look at the event-free survival in
3 the adjuvant group, meaning stage 2 and 3, again
4 with a median follow-up of 9.4 years, it was
5 79.3 percent. Then coming to the right, looking at
6 the stage 4 NED by molecular subtype, really what
7 was very impressive is the triple-negative breast
8 cancer group. You'll see the triple-negative
9 breast cancer group had an event-free survival of
10 59.3 percent, which is amazing given the median
11 survival for stage 4 TNBC is about 9 to 11 months.
12 You can see the best event-free survival was in the
13 luminal, 63.6 percent, and then Her2neu,
14 50 percent.

15 Then we look at event-free survival of the
16 triple-negative breast cancer patients by stage.
17 The stage 2 triple-negative breast cancer was
18 100 percent event-free survival; stage 3 was
19 79 percent and, again, what is amazing is you look
20 at the stage 4 event-free survival, it was
21 59 percent, which is extremely rare given the
22 median survival of TNBC, and certainly stage 4

1 TNBC.

2 So the scientific correlatives here in the
3 copper-depleted patients, reduction in the
4 endothelial progenitor cell that were expressing
5 VEGFR2 was seen. There was a reduction in lysyl
6 oxidase like 2. There was normalization of the
7 collagen microenvironment, and interestingly, there
8 was improved event-free survival in the adjuvant
9 patients whose primary tumors were expressing
10 antioxidant 1 copper chaperone. Again, that is the
11 cytoplasmic chaperone for copper.

12 In the preclinical models, there was no
13 effect in primary tumors, but there was a decrease
14 in lung mets and, again, those lung mets showed
15 marked reduction in lysyl oxidase and collagen
16 remodeling. Another interesting finding is there
17 was reprogramming of the metabolic environment, so
18 there was a shift away from mitochondrial oxidative
19 phosphorylation towards glycolysis. Also, there
20 was a reduction in myeloid-derived suppressor cells
21 in the primary tumors of the TM treated mice.

22 The next step, there is a randomized phase 2

1 study in high risk for recurrence, triple-negative
2 breast cancer patients underway, plannings
3 underway, and supported by multiple groups: the
4 NCI Research Project Grant, the NCI NExT Program;
5 Gateway Foundation; Breast Cancer Research
6 Foundation; Translational Breast Cancer Research
7 Consortium; as well as philanthropic donors.

8 An investigation is also underway in
9 high-risk, non-small-cell lung cancer, both
10 preclinical with clinical trial development as
11 well, and the BRAF-V600 mutated melanoma with the
12 goal, again, of course being to expand the
13 correlative science in the completed phase 2 study
14 in breast cancer that will be completed next June.
15 That concludes my talk.

16 DR. VAIDA: Thank you, Dr. Rosenberg.

17 DR. ROSENBERG: Thank you.

18 **Clarifying Questions from the Committee**

19 DR. VAIDA: We'll now take clarifying
20 questions for nominator presenter. Please use the
21 raise-hand icon to indicate you have a question,
22 and remember to clear the icon after you've asked

1 your question. When acknowledged, please remember
2 to state your name for the record before you speak.
3 If you wish for a specific slide to be displayed,
4 please let us know the slide.

5 Finally, it would be helpful to acknowledge
6 the end of your question with a thank you, and end
7 of your follow-up question with, "That is all for
8 my questions," so we can move on to the next panel
9 member.

10 Dr. Nieva?

11 DR. NIEVA: Thank you, Dr. Rosenberg. One
12 concern that has been expressed with ATTM versus
13 alternative copper chelators is that there's no
14 effective monitoring strategy given that it's stool
15 absorbed.

16 Can you comment on the current monitoring
17 strategies for copper levels and copper deficiency
18 development in patients who are using this
19 compound, and how it differs from that using other
20 chelators? Thank you.

21 DR. ROSENBERG: Thank you. Thank you for
22 your question. I can tell you from personal

1 experience that I've been using this substance in
2 high-risk individuals with cancer for approximately
3 14-plus years, and what I have been doing is
4 monitoring the CBC comprehensive metabolic panel
5 and ceruloplasmin levels every 4 weeks at a
6 minimum. And I say at a minimum, because if I
7 adjust doses, I may check their blood levels a week
8 later or 2 weeks later.

9 The most common side effects from a
10 hematologic standpoint we'll see are the
11 leukopenia, neutropenia, and then of course mild
12 anemia. So the way I've been adjusting it is if I
13 see that the white count is getting significantly
14 below 3, we simply back off. I've treated hundreds
15 of patients, and I have had nobody hospitalized,
16 and of course no adverse effects. What I can see
17 is the best way to monitor this for safety is
18 simply too frequently monitor the cell counts and
19 the ceruloplasmin.

20 Now, I will tell you something else that I
21 do. When copper is depleted, it actually causes a
22 functional iron deficiency as well. That is

1 delineated in the literature, but the mechanism is
2 not clearly worked out. But copper and iron do
3 work together in some fashion, so what I always do
4 is before I start these patients on treatment, I
5 also measure their serum irons because if they're
6 iron deficient and you make them copper deficient,
7 you can expect to cause maybe more significant
8 problems with anemia.

9 But as far as really quantifying how much
10 copper is being excreted, I would pose the question
11 of how important is that? In other words, if we're
12 keeping the cell counts adequate, and the patients
13 are feeling well, and either the cancer is stable
14 or it's not recurring, I think that's the primary
15 objective.

16 I don't know if I answered your question
17 adequately, but please let me know.

18 DR. NIEVA: Thank you.

19 Just in follow-up, can you give me a sense
20 of how much of the use of this agent is done under
21 investigational purposes? Within the context of a
22 defined clinical trial under human subject

1 supervision, what percentage is being used in
2 Wilson's disease and what percentage is being used
3 off label as a cancer therapy?

4 DR. ROSENBERG: Sure. And I am going to
5 give you my guesstimate on this because I'm not
6 aware exactly what the numbers are. But I would
7 suspect most of the use, and I say most, that well
8 over 90 percent of the use is just being used off
9 label and not under a formal trial.

10 There are a few reasons for this. There's
11 not a lot of interest. I think most physicians are
12 really unaware of this substance, and one of the
13 reasons is, of course, it is not an FDA-approved
14 drug. So most of the physicians that I know that
15 are using this -- and I don't know how many there
16 are, but they're simply using it in a
17 non-controlled fashion, meaning not under a
18 clinical trial. But having said that, it's been
19 used probably since at least the '80s.

20 DR. NIEVA: Thank you. That concludes my
21 questions.

22 DR. ROSENBERG: Thank you.

1 DR. VAIDA: Thank you.

2 Dr. McKinnon from the FDA, do you have a
3 comment?

4 DR. MCKINNON: Thank you, Chairman Vaida.
5 Dr. Osgood from OND would like to be recognized for
6 a comment, please.

7 DR. OSGOOD: Yes. Hi. This is Dr. Osgood.
8 I'm one of the clinical team leaders in the breast
9 cancer division in the Office of Oncology Drugs. I
10 just wanted to offer a perspective on some of the
11 clinical data that was submitted.

12 Basically, this is data from single-arm
13 trials where multiple agents were being used, and
14 it looked at endpoints that are basically
15 uninterpretable in a single-arm trial such as
16 progression-free survival and overall survival, as
17 far as regulatory endpoints for us to evaluate the
18 safety or efficacy of this agent.

19 So although there may be some interesting
20 preclinical data, as well as some hypothesis-
21 generating data that was presented, most of this
22 data would need to be followed up with a much

1 larger randomized trial in order to determine if
2 this drug is safe and effective. And clearly there
3 are concerns about the safety of this drug, and we
4 don't really have any data to say that it is safe,
5 even in a TNBC or breast cancer population.

6 So I think from oncology's perspective, we
7 would encourage all of this data be submitted to us
8 for review with a new protocol in order to answer
9 some of these questions that are still outstanding,
10 rather than giving this off label or not under an
11 IND at this point, and I think that's where
12 oncology comes down on it.

13 Thank you very much. If you have any
14 questions for me, I'm happy to answer them.

15 DR. VAIDA: Dr. Bormel, do you have a
16 comment?

17 DR. BORMEL: Yes. Thank you, Dr. Vaida.

18 I think the nominator posed a question about
19 were there any deaths. It's important to
20 understand that when compounders under Section 503A
21 of the Act -- these are pharmacists and licensed
22 pharmacies, physicians, and federal

1 facilities -- they have no requirement to report,
2 under Section 503A, adverse events to the agency.
3 So if there are deaths, if there are
4 hospitalizations, we are not necessarily going to
5 hear about that. There is a vehicle for voluntary
6 reporting, but there is no vehicle required under
7 Section 503A to report these adverse events.

8 I think that's really important to note.
9 When you put a bulk substance on the list, this is
10 going to enable compounders to compound it under
11 503A -- the pharmacies, the physicians, and the
12 federal facilities -- and there's no mechanism to
13 generate the type of serious adverse event
14 reporting currently under the statute.

15 DR. VAIDA: Thank you.

16 I think it's also good to say, too, that
17 503A compounders do not need to report AEs, whereas
18 outsourcing facilities do.

19 I would like to state into the record that
20 there are no open public hearing speakers for this
21 topic, and we'll move on to the question to the
22 committee.

1 The committee will now turn its attention to
2 address --

3 DR. STEVENSON: Hello, Dr. Vaida. This is
4 Takyiah speaking. I'm sorry to interrupt.

5 DR. VAIDA: Yes?

6 DR. STEVENSON: Did you see my note?

7 DR. VAIDA: Dr. McKinnon has a question or a
8 comment?

9 DR. MCKINNON: Chairman Vaida, Dr. Ganley
10 would like to be recognized for a comment, please.

11 DR. VAIDA: Fine.

12 DR. GANLEY: Yes. Hi. This is Charley
13 Ganley. I just wanted to say, in the context of
14 treating cancer, people die from the disease, and
15 the list of diseases that we were asked to review
16 is not just limited to breast cancer.

17 I am very concerned that this concept that
18 no one's died that was on this therapy, especially
19 in the context of being administered outside of a
20 clinical trial or an IND, I don't know how -- if
21 someone is on the drug, whether it's related to
22 their disease or not, and they die, under an IND,

1 that would be reported to the agency, and we can
2 make an assessment of whether the drug contributed
3 to that. I suspect there have been many deaths in
4 patients who are being treated for cancer with this
5 drug, and there's just an assumption that it's
6 unrelated to the drug, and we have no evidence of
7 that.

8 I think the other thing, just to emphasize
9 Dr. Osgood's comments about an IND, it just seems
10 very peculiar that the National Cancer Institute is
11 supporting a phase 2 study presumably to establish
12 that it's safe and effective, yet it can be
13 administered under compounding to patients outside
14 of an IND, and I'm assuming that study's done
15 within the IND framework. That's all my comments.
16 I have no further questions or comments.

17 DR. VAIDA: Thank you.

18 DR. OSGOOD: Right. To add to that as well,
19 even in the oncology setting, this will need to be
20 studied at more doses to ensure that you have the
21 optimal dose, as well as to be studied for
22 effectiveness.

1 I think that based on the data provided,
2 there hasn't been enough dose optimization, as well
3 as safety data collected, on top of efficacy data,
4 and I agree, cancer patients do die, and I would
5 suspect that patients have died while receiving
6 this drug, and we just don't have reports of it,
7 because even if they didn't die from the drug, you
8 would expect a certain number of cancer cases of
9 mesothelioma, colon cancer, and/or breast cancer to
10 have died while receiving this drug.

11 **Committee Discussion and Vote**

12 DR. VAIDA: Thank you.

13 The committee will now turn its attention to
14 address the task at hand, the careful consideration
15 of the data before the committee, as well as public
16 comments. We will proceed with the question to the
17 committee for ammonium tetrathiomolybdate. I'd
18 like to remind the public observers that while this
19 meeting is open for public observation, public
20 attendees may not participate, except at the
21 specific request of the panel.

22 Today's question is a voting question.

1 Dr. Stevenson will provide instructions for the
2 voting.

3 DR. STEVENSON: Question 3 is a voting
4 question. Voting members will use the Adobe
5 Connect platform to submit their votes for this
6 meeting. After the chairperson has read the voting
7 question into the record and all questions and
8 discussion regarding the wording of the vote
9 question are complete, the chairperson will
10 announce that voting will begin.

11 If you are a voting member, you will be
12 moved to a breakout room. A new display will
13 appear where you can submit your vote. There will
14 be no discussion in the breakout room. You should
15 select the radio button that is the round circular
16 button in the window that corresponds to your vote,
17 yes, no, or abstain. You should not leave the "no
18 vote" choice selected. Please note that you do not
19 need to submit or send your vote. Again, you need
20 only to select the radio button that corresponds to
21 your vote.

22 You will have the opportunity to change your

1 vote until the vote is announced as closed. Once
2 all voting members have selected their vote, I will
3 announce that the vote is closed. Next, the vote
4 results will be displayed on the screen. I will
5 read the vote results from the screen into the
6 record. Next, the chairperson will go down the
7 roster, and each voting member will state their
8 name and their vote into the record. You can also
9 state the reason why you voted as you did, if you
10 want to.

11 Are there any questions about the voting
12 process before we begin?

13 (No response.)

14 DR. STEVENSON: Seeing none, I will hand it
15 back to the chair.

16 DR. VAIDA: Thank you.

17 For Section 503A bulk drug substances list,
18 ammonium tetrathiomolybdate, FDA is proposing that
19 ammonium tetrathiomolybdate not be included on the
20 503A Bulks List. Should ammonium
21 tetrathiomolybdate be placed on the list?

22 If you vote no, you are recommending FDA not

1 place the bulk drug substance on the 503A Bulks
2 List. If the substance is not on the list when the
3 final rule is promulgated, compounders may not use
4 the drug for compounding under Section 503A unless
5 it becomes subject to an applicable USP or NF
6 monograph component of an FDA drug.

7 If there are no questions about the wording
8 of the question, we'll now take a vote.

9 DR. STEVENSON: We will now move voting
10 members to the voting breakout room to vote only.
11 There will be no discussion in the voting breakout
12 room.

13 (Voting.)

14 DR. STEVENSON: The voting has closed and is
15 now complete. Once the vote results display, I
16 will read the vote result into the record.

17 (Pause.)

18 DR. STEVENSON: The voting has closed and is
19 now complete. The vote results are displayed. I
20 will read the vote totals into the record. The
21 chairperson will go down the list, and each voting
22 member will state their name and their vote into

1 the record. You can also state the reason why you
2 voted as you did, if you want to.

3 There are 2 yeses, 13 noes, and zero
4 abstentions.

5 (Pause.)

6 DR. STEVENSON: Sorry, Dr. Vaida. If you
7 are speaking, you may be on mute in Adobe.

8 DR. VAIDA: Sorry.

9 We'll now go down the list and have everyone
10 who voted state their name and vote into the
11 record. You can also provide justification for
12 your vote, if you wish to.

13 I'm Allen Vaida. I voted no because I felt
14 there wasn't enough evidence. There may be some
15 upcoming trials and studies, and this may get
16 revisited in the next couple years.

17 Dr. Gupta?

18 DR. GUPTA: Hello. This is Dr. Anita Gupta,
19 and I voted no.

20 DR. VAIDA: Dr. Serumaga?

21 DR. SERUMAGA: Yes. Brian Serumaga from
22 USP, and I voted no for the same reasons stated

1 previously.

2 DR. VAIDA: Dr. Assis?

3 DR. ASSIS: David Assis. I voted no for the
4 reasons that were stated. And furthermore, I think
5 this is not a situation in which one needs to lower
6 the safety profile evaluation given that a more
7 stable version of this compound is in the future
8 and can be better evaluated. Thank you.

9 DR. VAIDA: Dr. Rebello?

10 DR. REBELLO: This is Elizabeth Rebello. I
11 voted no because I thought the evidence was
12 insufficient.

13 DR. VAIDA: Dr. Caviness?

14 DR. CAVINESS: This is John Caviness. I
15 voted yes. My comment is from a movement disorder
16 neurologist perspective, there are patients with
17 Huntington's disease who cannot afford any
18 neurological worsening of their condition, whether
19 that is because of a generalized dystonia or
20 tetering on the edge of being ambulatory or
21 non-ambulatory. So having an alternative treatment
22 that has a much lower risk of worsening is desired,

1 and hopefully future trials are able to get that
2 done. Thanks.

3 DR. VAIDA: Dr. Garcia?

4 DR. GARCIA: Jorge Garcia, University
5 Hospitals, Seidman Cancer Center. I voted no. I
6 think the clinical trials presented today are quite
7 limited, not only in sample size, but certainly the
8 AE profile reports, which appeared to be quite
9 misleading.

10 In addition to that, the clinical benefit
11 that was observed in the cancer studies to me is
12 pretty minimal, if at all, especially when you have
13 already existing agents now that are part of the
14 standard of care and life prolonging in nature
15 across all those malignancies where this agent was
16 analyzed.

17 Lastly, the data of the microenvironment is
18 limited and certainly does not explain the
19 mechanism of action, or even can be considered as a
20 predictor of prognostic biomarkers for treatment
21 efficacy with these agents. Thank you.

22 DR. VAIDA: Dr. Nieva?

1 DR. NIEVA: I voted no. This is Jorge
2 Nieva. I voted no. ATTM appears to be an
3 effective copper chelator, but like any copper
4 chelator, it can cause copper deficiency, and there
5 are risks that exist whenever any copper chelator
6 is ineffectively monitored.

7 This agent appears to be at a disadvantage
8 relative to approved drugs for monitoring. The
9 data in cancer are clearly inadequate to justify
10 its use as a cancer therapy outside of a clinical
11 trial, and the fact that its primary use is being
12 presented as a cancer therapeutic is highly
13 concerning.

14 There may be people who benefit from copper
15 chelation for cancer, but we will never know how to
16 do this correctly in the absence of well-controlled
17 clinical trials. I do not have any sense from this
18 meeting that ATTM is serving any unmet need for
19 patients who cannot tolerate currently available
20 copper chelators. Thank you.

21 DR. VAIDA: Thank you.

22 Dr. Gura?

1 DR. GURA: Hi. Kathleen Gura. I voted yes.
2 Similar to Dr. Caviness, I think there are patients
3 with neurological complications who may benefit, so
4 for that reason I voted yes. Thank you.

5 DR. VAIDA: Dr. Patel?

6 DR. PATEL: Hello. This is Kuldip Patel. I
7 voted no for some of the reasons mentioned before
8 and lack of robust and convincing evidence.

9 DR. VAIDA: Dr. McElhiney?

10 DR. McELHINEY: This is Linda McElhiney. I
11 voted no because I'm concerned that uninformed
12 practitioners may not monitor their patients as
13 closely as Dr. Rosenberg does.

14 DR. VAIDA: Dr. Bogner?

15 DR. BOGNER: Robin Bogner. I voted no, but
16 I look forward to the clinical studies.

17 DR. VAIDA: Sandra Fusco?

18 MS. FUSCO-WALKER: Sandra Fusco-Walker. I
19 voted no. The lack of evidence for these compounds
20 is concerning. Informed consent with all the facts
21 when using an unapproved compounded drug is
22 critically important to patients, and I look

1 forward to the upcoming trials.

2 DR. VAIDA: Thank you.

3 Dr. Dasarathy?

4 DR. DASARATHY: I voted no because I wasn't
5 too convinced about the safety, and I'm also
6 concerned that there is literally no data on the
7 ammonium component, which is really not being
8 studied, and we have spent three decades working on
9 ammonia toxicity in different organs.

10 DR. VAIDA: Dr. Fensky?

11 DR. FENSKY: This is Tim Fensky. I voted no
12 for the previous reasons, but especially due to the
13 monitoring parameters that may not be taken into
14 effect. Thank you.

15 DR. STEVENSON: This is Takyiah Stevenson
16 speaking.

17 Dr. Dasarathy, could you please state your
18 full name and your vote for the record?

19 DR. DASARATHY: Oh, I'm sorry. My name is
20 Srinivasan Dasarathy, and I voted no.

21 DR. STEVENSON: Thank you.

22 DR. VAIDA: Alright. Thank you, everyone.

1 Unless there's any concern with the
2 committee, I would like to skip this break and move
3 on to the next topic, and then we could take a
4 short break after that.

5 Is that ok?

6 (No audible response.)

7 DR. VAIDA: Okay.

8 The next topic is ferric subsulfate. We'll
9 now proceed with the FDA presentation of ferric
10 subsulfate from Dr. Tariq.

11 DR. TARIQ: Hi. This is Dr. Anam Tariq.
12 Can you hear me ok?

13 DR. VAIDA: Yes.

14 DR. TARIQ: Perfect. Thank you.

15 **FDA Presentation - Anam Tariq**

16 DR. TARIQ: Good afternoon. My name is Anam
17 Tariq, and I am from the Pharmacy Compounding
18 Review Team. I will discuss the nomination for
19 ferric subsulfate. I would like to acknowledge the
20 review staff involved in the evaluation of ferric
21 subsulfate, as well as a special thank you to the
22 Division of Urology, Obstetrics, and Gynecology for

1 their expertise.

2 Ferric subsulfate, solid or powder, was
3 nominated for inclusion on the list of bulk drug
4 substances for use in the 503A Bulks List. It was
5 proposed for use as an astringent and hemostatic
6 agent during minor surgical procedures for topical
7 routes of administration in the dosage forms of
8 solution and 10 to 21 percent powder.

9 FDA reviewed publicly available information
10 based on these four criteria. The first criteria
11 is physical and chemical characterization. Ferric
12 subsulfate is also called Monsel's salt and basic
13 ferric sulfate. There is no ferric subsulfate drug
14 substance monograph in the United States
15 Pharmacopeia, British Pharmacopoeia, European
16 Pharmacopoeia, and the National Formulary.

17 Very limited information was found for
18 ferric subsulfate solid or powder, which we will
19 discuss in this slide. Although ferric subsulfate
20 solid or powder is available directly through
21 several vendors, we found information regarding its
22 use in industrial waste processing as a coagulant

1 and pigment in pickling baths for steel and
2 aluminum.

3 It is unclear how ferric subsulfate solid or
4 powder is synthesized, manufactured, isolated,
5 purified, or characterized. Because ferric
6 subsulfate solid or powder is not well
7 characterized physically and chemically, we do not
8 have assurance that its properties and toxicities
9 when used in compounding would be the same as the
10 properties and toxicities reported in the
11 literature and considered by the agency.

12 Due to the lack of clarity in the nomination
13 and the literature submitted by Fagron regarding
14 whether ferric subsulfate and Monsel's solution or
15 paste refer to a bulk drug substance and a drug
16 product compounded from that substance,
17 respectively, or whether the nominator intended to
18 mean that they are the same products and the names
19 are used interchangeably, FDA interprets the
20 nomination to be for the bulk drug substance ferric
21 subsulfate solid or powder, and data submitted by
22 Fagron on Monsel's will be considered for the

1 overall assessment and recommendation with respect
2 to the use proposed by the nominator.

3 Earlier on slide 5, I discussed that there
4 was no ferric subsulfate drug substance monograph,
5 however, please note there is a USP drug product
6 monograph for ferric subsulfate solution, which is
7 also referred to as Monsel's solution. We conclude
8 that there is information available for ferric
9 subsulfate solution, which is Monsel's solution,
10 and it is chemically and physically well
11 characterized when the USP drug product monograph
12 is followed, but the solution is made from ferrous
13 sulfate and not ferric subsulfate solid or powder.

14 Nonclinical safety data for ferric
15 subsulfate solution, defined as Monsel's solution,
16 was limited to pharmacology studies that
17 investigated the mechanism of action of this
18 substance as an astringent and hemostatic agent.
19 In the rat tail bleeding model, hemostasis was
20 improved when Monsel's solution was used. In the
21 pig model, application of Monsel's solution on
22 punch biopsy sites resulted in the delay of

1 re-epithelialization on the rate of wound healing.
2 No data were found in the literature that described
3 the acute toxicity, repeat-dose toxicity,
4 reproductive toxicity, genetic toxicology, or
5 carcinogenicity aspects of ferric subsulfate.

6 Now we will discuss clinical safety. The
7 FDA Adverse Event Reporting System, or FAERS,
8 reported 15 cases of potential drug event
9 associations, with most cases involving application
10 site reactions such as inflammation, pain,
11 irritation, chemical burn, and dysuria.

12 There were 10 serious adverse events and
13 three cases of compounded products that resulted in
14 hospitalizations, which are shown in the next
15 slide. These three cases were in women with
16 application of Monsel's during intrauterine device
17 procedure or other cervical examination, resulting
18 in hospitalization for burning sensation or other
19 application site burns. Details of the cases were
20 limited on the FAERS database.

21 A serious adverse event was reported in the
22 literature, where a 46-year-old woman died from

1 complications of a large cervical biopsy when pads
2 soaked with Monsel's solution were used to control
3 complications of persistent bleeding after
4 unsuccessful hemostasis with suture and other
5 surgical interventions. Based on this serious
6 adverse event, experts recommend that uterine
7 perforation must be excluded before the use of a
8 Monsel's pack because a leak into the peritoneal
9 cavity could lead to areas of bowel damage and
10 necrosis.

11 On this slide, we will discuss information
12 associated with the use of ferric subsulfate.
13 Monsel's solution may not be an appropriate
14 treatment option for hemostasis during in vitro
15 fertilization because it may inadvertently affect
16 pregnancy outcomes. Pregnancy outcomes were
17 reduced during IVF for the Monsel's group compared
18 to patients in the control group who were
19 undergoing IVF in the same period and did not
20 receive Monsel's solution.

21 Additionally, ferric subsulfate application
22 causes dyspigmentation at the application site and

1 may distort pathology on re-excision. This may
2 appear as pathological artifacts and with
3 diagnostic challenges. Histological changes in
4 tissues may persist up to 3 weeks. Experts
5 recommend avoiding cervical smears on patients with
6 recent treatments of ferric subsulfate on the
7 cervix in order to avoid confusion on future
8 diagnosis.

9 In conclusion, there are no published
10 clinical trials conducted to specifically assess
11 the safety of ferric subsulfate drug products in
12 humans. Adverse events consisted mainly of acute
13 reactions to ferric subsulfate exposure of Monsel's
14 solution or paste.

15 In literature, ferric subsulfate has been
16 associated with postoperative discharge, delayed
17 wound healing, and vaginal irritation. Although
18 scientific publications in obstetrics and
19 gynecology generally recognize ferric subsulfate as
20 an appropriate hemostatic agent for small amounts
21 of bleeding when applied on the cervical and
22 vaginal epithelium following cervical biopsies and

1 excisional procedures, Monsel's solution should not
2 be used intra-abdominally because a leak into the
3 peritoneal cavity could lead to areas of bowel
4 damage and necrosis.

5 Before discussing the effectiveness data for
6 ferric subsulfate, I will spend a few minutes on
7 defining cervical neoplasia and complications of
8 bleeding arising from these diagnostic procedures.

9 Cervical intraepithelial neoplasia are
10 characterized by atypical squamous changes in the
11 transformation zone of the cervix. Cervical
12 intraepithelial neoplasia are frequently diagnosed
13 and treated among women in reproductive and
14 postmenopausal ages using cervical biopsies with
15 surgical procedures, including cold knife
16 conization, laser conization, and loop electrical
17 excision procedure.

18 The two most common short-term complications
19 are intraoperative and postoperative bleeding, as
20 well as infection. Intraoperative bleeding is
21 generally controlled using standard surgical
22 techniques with the adjunct use of topical

1 hemostatic agents, which we will discuss on the
2 next slide.

3 The American College of Obstetricians and
4 Gynecologists 2020 publication made the following
5 conclusions and recommendations regarding the use
6 of topical hemostatic agents such as ferric
7 subsulfate 20 percent or Monsel's solution.
8 Topical hemostatic agents are not for routine
9 prophylaxis. Topical caustic hemostatic agents
10 such as ferric subsulfate 20 percent or Monsel's
11 solution, aluminum chloride, silver nitrate, and
12 zinc chloride paste are used in the cervix and
13 vagina, but these caustic agents are not for
14 intra-abdominal use.

15 The agency identified two
16 randomized-controlled trials evaluating the effects
17 of ferric subsulfate drug product on hemostasis
18 predominantly in premenopausal and postmenopausal
19 women undergoing minor surgical procedures on the
20 cervix. In a randomized-controlled trial by Hilal
21 and colleagues, the application of Monsel's
22 solution, defined as ferric subsulfate, was

1 compared to the control wait-and-see group who did
2 not receive any hemostatic agent or other
3 procedures, which could lead to hemostasis
4 following colposcopy examination for cervical
5 abnormalities.

6 The primary endpoint was vaginal bleeding
7 after 15 minutes using a scoring sanitary pad with
8 a modified 5-level pictogram and compared between
9 the active treatment and control. The figure on
10 the right shows that Monsel's solution in dark gray
11 experienced less vaginal bleeding. The red arrows
12 on the figure show the reduced vaginal bleeding
13 after the primary endpoint of 15 minutes and
14 secondary endpoints at 3 hours and 6 hours of the
15 procedure. This trial supports efficacy of ferric
16 subsulfate as hemostatic agent in the reduction of
17 vaginal bleeding in the short-term.

18 In the second controlled trial, Monsel's
19 solution was compared to the control
20 povidone-iodine solution group to investigate
21 postoperative bleeding following LEEP with ball
22 electrode. As shown in the red highlighted box

1 below, in the Monsel's treated group, the mean,
2 uncomplicated vaginal bleeding, was less than
3 3 days compared to 5 days in the control group.
4 This article supports the efficacy of ferric
5 subsulfate in reduction of bleeding following LEEP.

6 This slide describes the articles submitted
7 by the nominator. We evaluated these studies,
8 however, in conclusion, these studies did not have
9 adequate controls or it was not possible to
10 estimate the contribution of ferric subsulfate
11 solution towards hemostasis as opposed to other
12 factors.

13 Overall, we conclude there is evidence of
14 effectiveness for the use of ferric subsulfate as a
15 topical hemostatic agent, based on data from
16 randomized-controlled trials to reduce bleeding
17 following minor gynecological surgical procedures
18 that include cervical biopsies.

19 Lastly, we apply the fourth criteria that is
20 the historical use. We do not have information on
21 the extent to which the products are compounded
22 starting from ferric subsulfate solid or powder.

1 Results using the term "ferric subsulfate
2 compounding pharmacy" indicate that ferric
3 subsulfate is commonly compounded as a topical
4 solution and gel for hemostasis. Additionally, the
5 active ingredient is found in certain unapproved
6 prescription and non-prescription products marketed
7 in the United States for human and animal use for
8 hemostasis.

9 Based on this information, we have
10 considered a balancing of the four evaluation
11 criteria that weighs against ferric subsulfate
12 solid or powder being added to the 503A Bulks List,
13 primarily because of the lack of information on the
14 physical and chemical characterization of ferric
15 subsulfate solid or powder. Thank you very much.
16 That concludes my presentation.

17 **Clarifying Questions from the Committee**

18 DR. VAIDA: Thank you.

19 We will now take clarifying questions for
20 the FDA presenters. Please use the raise-hand icon
21 to indicate that you have a question, and remember
22 to clear the icon after you have asked your

1 question. When acknowledged, please remember to
2 state your name for the record before you speak and
3 direct your question to a specific presenter, if
4 you can. If you wish for a specific slide to be
5 displayed, please let us know the slide number, if
6 possible.

7 Finally, it would be helpful to acknowledge
8 the end of your question with a thank you, and end
9 of your follow-up questions with, "That is all for
10 my questions," so we can move on to the next panel
11 member.

12 Dr. McElhiney?

13 DR. McELHINEY: This is Linda McElhiney

14 DR. VAIDA: Sorry.

15 DR. McELHINEY: That's ok. This is Linda
16 McElhiney. This is for Dr. Tariq.

17 Just to clarify, this is only for the bulk
18 powder. It's not for the commercial Monsel's
19 solution that's already available commercially
20 through several manufacturers, and has a USP
21 monograph. Thank you.

22 DR. TARIQ: Thank you for the question.

1 Yes, we evaluated the ferric subsulfate solid or
2 powder for this specific evaluation, and I will let
3 my colleagues in OCQC add context regarding the
4 interpretation of the nomination.

5 DR. LAWSON: Hi. This is Rosilend Lawson
6 from OCQC. FDA is aware of the wide use of
7 Monsel's solution prepared according to the USP
8 drug product monograph, and we are exploring
9 options to clarify its policy with respect to
10 distribution of this product.

11 Did that answer your question?

12 DR. McELHINEY: Yes.

13 DR. VAIDA: Dr. Eisenberg?

14 DR. EISENBERG: Yes. This is Dr. David
15 Eisenberg, an OB/GYN at Washington University
16 School of Medicine in St. Louis. I have a couple
17 questions about this distinction between compounded
18 ferric sulfate versus the FDA-approved marketed
19 ferric subsulfate solution that's available, like
20 you said, through the USP process.

21 Number one is with regards to adverse
22 events. If someone will pull up either slide 14, I

1 believe it was, with regards to the FAERS database;
2 this was specific to reported adverse events with
3 the compound -- I guess it was slide 11. There you
4 go. Slide 11 was specific to -- can you advance
5 one more? There you go. These three were specific
6 to compounded ferric subsulfate; is that correct?
7 Thank you.

8 DR. TARIQ: Hi. This is Anam Tariq. Thank
9 you for the question. Yes, for these three cases
10 that we showed here on this slide, specifically it
11 was related to compounded products that contained
12 ferric subsulfate.

13 DR. EISENBERG: And some follow-on
14 questions -- and I am aware of this case report of
15 ferric subsulfate in the peritoneal cavity as a
16 result of unrecognized vaginal colpotomy occurring
17 at the time of a large LEEP procedure.

18 Do we know if that and/or any of the other
19 reports that were in the FAERS database -- I
20 believe there were 15 cases altogether as displayed
21 on slide 14 -- were those related to the
22 FDA-approved ferric subsulfate or compounded ferric

1 subsulfate?

2 DR. TARIQ: Hi. This is Anam Tariq again.
3 There is no FDA-approved medication that contains
4 ferric subsulfate. As I pointed earlier in the
5 slide, there is a USP drug product monograph that
6 has the recipe how to make ferric subsulfate
7 solution, which, as you referred to earlier, was
8 used in the patients, three of which were
9 compounded products that mentioned that they were
10 adverse events.

11 But again, because of the FAERS database, we
12 are not able to elucidate how and where the actual
13 active ingredient was made or produced. and
14 neither of the cases reported sufficient
15 information to establish causality, but the
16 specific case that you mentioned of the peritoneal
17 perforation -- I'm sorry. What was the second part
18 of the question for the peritoneal perforation?

19 DR. EISENBERG: Specifically, could we state
20 as to whether it was the USP-approved monograph
21 production or not? As a clinician, I'm not sure I
22 understand the distinction between the USP-approved

1 monograph, of the creation of Monsel's solution
2 versus the FDA approval process, versus the
3 question at hand, which is with regards to whether
4 ferric subsulfate should be listed on the bulk
5 compounding list.

6 I'm just trying to understand the adverse
7 event rate or the number of adverse event reports
8 for the USP-approved ferric subsulfate.

9 DR. KASIM: Dr. Tariq?

10 DR. TARIQ: Yes?

11 DR. KASIM: This is Suhail Kasim, lead
12 physician in the Office of New Drugs in pharmacy
13 compounding. Do you mind if I respond, Dr. Tariq?

14 DR. TARIQ: Yes. Please go ahead,
15 Dr. Kasim.

16 DR. KASIM: Dr. Eisenberg, I think I
17 understand your question. You're trying to
18 elucidate whether we have information about whether
19 we can say the adverse events were due from the
20 products that were compounded based on the USP drug
21 product monograph or from other ways of compounding
22 use.

1 To answer that, we don't know. As Dr. Tariq
2 said, we don't know. I think we tried to
3 acknowledge that in the evaluation. The assumption
4 is made that most of the data that we have
5 evaluated for safety, or for effectiveness, were
6 based on what was available and reported in the
7 literature. The literature report called it at
8 Monsel's or ferric subsulfate, and the USP product
9 monograph has a certain percentage of concentration
10 associated with that ferric subsulfate that is
11 compounded.

12 Now, that is the limitation of the
13 information we have. But the FAERS database, I
14 think, for these three cases may have called out
15 that it was based on Monsel's paste or Monsel's
16 solution. So with that assumption, Monsel's may be
17 that USP drug product monograph. I hope that
18 answers your question.

19 DR. EISENBERG: Yes. That is helpful.
20 You're exactly right, that I was just trying to
21 understand is there a different safety profile for
22 this idea of compounded versus the USP-approved

1 production, and it sounds like the answer is we
2 don't know.

3 DR. KASIM: We don't know.

4 DR. EISENBERG: The next question I have is
5 whether the FDA in reviewing this had any concerns
6 about the very common widespread usage of the
7 USP-approved Monsel's solution? Because we don't
8 have well-controlled studies regarding safety and
9 efficacy, and this product, as mentioned, has been
10 around since Napoleon's army.

11 So it's just hard to say that it is the
12 compounded product that is more concerning when it
13 may just simply be that inadequate or inappropriate
14 use, I should say, of this medication can obviously
15 result in adverse events. Thank you for the
16 clarifications.

17 DR. LAWSON: This is Rosilend Lawson again.
18 The USP drug product monograph for ferric
19 subsulfate solution describes the procedure that
20 starts with ferrous sulfate to prepare the ferric
21 subsulfate solution. Ferric subsulfate solution is
22 chemically and physically well characterized when

1 the USP drug product monograph is followed, but
2 this solution that's being made from the powder or
3 the solid, which we construe to be the subject of
4 the nomination, is not well characterized, and
5 that's why we recommended that it not be placed on
6 the bulks list.

7 Having said that, we recognize this unusual
8 issue that's presented today and the wide use of
9 Monsel's solution prepared according to the drug
10 product monograph, and we intend to explore options
11 to clarify our policy on making that later with
12 respect to distributing the product.

13 DR. BORMEL: Yes. This is Gail Bormel.
14 There are a couple layers to your question, and
15 what my colleagues have said is absolutely correct.
16 What we're dealing with now is ferric subsulfate as
17 a solid or powder being placed on the bulks list,
18 and that's separate from the USP drug product
19 finished dosage form monograph of Monsel's
20 solution. There is no FDA-approved drug product of
21 Monsel's solution, just to be clear, so any data
22 we're getting on Monsel's solution reported is

1 going to be voluntary data reported through the
2 FAERS system.

3 The data are what the data are. The
4 Monsel's solution has been made for a long time,
5 and the fact that there's a USP monograph means
6 there are certain standards to how you make it and
7 what it's supposed to come out to be, and that is
8 in the USP. And by what we're doing now, we're not
9 addressing that Monsel product solution. What
10 we're dealing with is the placement of a bulk
11 powder or bulk solid on the 503A list of ferric
12 subsulfate.

13 I'll just mention that the USP drug product
14 monograph of Monsel's solution starts with a
15 different compound to make it. It starts with
16 ferrous sulfate. So we are not opining on that
17 Monsel's solution today; we're only dealing with
18 what we've talked about so far, which is the powder
19 of ferrous sulfate, or solid form.

20 Is that a little more clear?

21 DR. EISENBERG: Yes. I very much appreciate
22 the clarification. It is an unusual situation that

1 we find ourselves in, and I do want to make sure
2 that we are not somehow criticizing or, like you
3 said, espousing on the utility or safety of the USP
4 monograph, and it really is just confined to this
5 question of the bulk product on the solid form.
6 Thank you for the clarifications, for everyone.

7 DR. BORMEL: Right. And if you notice, it
8 has a lot to do with the lack of ability to
9 characterize the ferric subsulfate substance
10 itself. You restated it perfectly, so I think you
11 do understand. Thank you.

12 DR. VAIDA: Dr. Bogner, do you have a
13 question?

14 DR. BOGNER: I just wanted to make a comment
15 that I don't think that the ferric subsulfate is
16 very well characterized. I looked it up in various
17 places, and in some places it's described as
18 yellow; other places it's reddish brown; and other
19 places it's yellowish-brown. Whether this is due
20 to a difference in composition or particle size is
21 possible, but I suspect that it's just not well
22 characterized as a solid.

1 In addition, one compounding supplier lists
2 the formula as an approximate formula, meaning that
3 I'm not sure it's well characterized there either.
4 Thirdly, I was really surprised that there is no pH
5 test for Monsel's solution. It seems that that
6 would be pretty important. I don't think people
7 are making Monsel's solution from ferric
8 subsulfate -- sorry, the ferrous, only because it
9 has to be heated until red fumes cease to be
10 evolved, and I doubt very many people are doing
11 that in pharmacies.

12 If anybody has a comment on a different
13 understanding than mine -- in other words, is it
14 better characterized -- I would like to hear that.
15 Thank you.

16 DR. VAIDA: Alright.

17 Dr. McElhiney, do you have a follow-up?

18 DR. McELHINEY: This is Linda McElhiney.
19 I've got a comment. I'm a compounder. I compound
20 for a large health system, and we've never
21 compounded Monsel's from the solid powder. We've
22 always bought the commercial --

1 DR. BOGNER: Right. Exactly.

2 DR. McELHINEY: -- product from the chemical
3 wholesalers, and it's always the USP grade. So I
4 don't think -- I've never heard of anybody
5 compounding it. And probably another reason they
6 don't do it is because they're following the USP
7 monograph, and they should be using a ferrous
8 sulfate. That might be a completely different
9 concentration than using the straight ferric
10 subsulfate powder, and might end up being a lot
11 more caustic or maybe stronger than using the
12 ferrous sulfate.

13 So I don't think that it's even necessary.
14 There are so many manufacturers that commercially
15 produce the Monsel's USP product. Thank you.

16 **Committee Discussion and Vote**

17 DR. VAIDA: Thank you.

18 I would like to state into the record that
19 we do not have a nominator presentation for this
20 topic. I would also like to state into the record
21 that there are no open public hearing speakers for
22 this topic.

1 The committee will now turn its attention to
2 address the task at hand, the careful consideration
3 of the data before the committee, as well as the
4 [inaudible - audio gap]. We will proceed with the
5 questions to the committee. I would like to remind
6 public observers that while this meeting is open
7 for public observation, public attendees may not
8 participate, except at the specific request of the
9 panel.

10 Today's question is a voting question.
11 Dr. Stevenson will provide the instructions for the
12 voting.

13 DR. STEVENSON: Question 4 is a voting
14 question. Voting members will use the Adobe
15 Connect platform to submit their votes for this
16 meeting. After the chairperson has read the voting
17 question into the record and all questions and
18 discussion regarding the wording of the vote
19 question are complete, the chairperson will
20 announce that voting will begin.

21 If you are a voting member, you will be
22 moved to a breakout room. A new display will

1 appear where you can submit your vote. There will
2 be no discussion in the breakout room. You should
3 select the radio button that is the round circular
4 button in the window that corresponds to your vote,
5 yes, no, or abstain. You should not leave the "no
6 vote" choice selected.

7 Please note that you do not need to submit
8 or send your vote. Again, you need only to select
9 the radio button that corresponds to your vote.
10 You will have the opportunity to change your vote
11 until the vote is announced as closed. Once all
12 voting members have selected their vote, I will
13 announce that the vote is closed.

14 Next, the vote results will be displayed on
15 the screen. I will read the vote results from the
16 screen into the record. Next, the chairperson will
17 go down the roster, and each voting member will
18 state their name and their vote into the record.
19 You can also state the reason why you voted as you
20 did, if you want to.

21 Are there any questions about the voting
22 process before we begin?

1 (No response.)

2 DR. STEVENSON: Seeing none, I will give it
3 back to the chair to read the question.

4 DR. VAIDA: Thank you.

5 Question number 4, for the Section 503A bulk
6 drug substances list, ferric subsulfate, FDA is
7 proposing that ferric subsulfate solid or powder
8 not be included on the 503A Bulks List. Should
9 ferric subsulfate solid or powder be placed on the
10 list?

11 If you vote no, you are recommending FDA not
12 place the bulk drug substance on the 503A Bulks
13 List. If the substance is not on the list when the
14 final rule is promulgated, compounders may not use
15 the drug for compounding under Section 503A unless
16 it becomes the subject of an applicable USP or NF
17 monograph, or a component of an FDA-approved drug.

18 If there are no questions or comments
19 concerning the wording of the question, we will now
20 begin voting on the question for ferric subsulfate.

21 DR. STEVENSON: We will now move voting
22 members to the voting breakout room to vote only.

1 There will be no discussion in the voting breakout
2 room.

3 (Voting.)

4 DR. STEVENSON: The voting has closed and is
5 now complete. Once the vote results display, I
6 will read the vote result into the record.

7 (Pause.)

8 The voting has closed and is now complete.
9 The vote results are displayed. I will read the
10 vote totals into the record. The chairperson will
11 go down a list, and each voting member will state
12 their name and their vote into the record. You can
13 also state the reason why you voted as you did, if
14 you want to.

15 There are zero yeses, 12 noes, and zero
16 abstentions.

17 DR. VAIDA: Okay. Thank you

18 As stated, I'll now go down the list and
19 have everyone who voted state their name and vote,
20 and you could also provide justification, if you
21 wish to.

22 I'm Allen Vaida, and I voted no.

1 Dr. Gupta?

2 DR GUPTA: Hi. I'm Anita Gupta, and I voted
3 no.

4 DR. VAIDA: Dr. Serumaga?

5 DR. SERUMAGA: Hi. I'm Brian Serumaga, and
6 I voted no because whereas USP has a drug product
7 monograph for ferrous subsulfate solution, the
8 information that would be required to characterize
9 the ferric subsulfate drug bulk substance cannot
10 actually be deduced from this monograph. So I
11 wasn't reassured by any of the evidence provided
12 today that this chemical can actually be
13 characterized.

14 Also, inferring, through the databases that
15 are frequently used by compounders to source the
16 ingredients using compounding, there is sufficient
17 evidence that ferric subsulfate solution, which
18 conforms to the drug product monograph of ferric
19 subsulfate solution is actually readily available
20 to compounders. So for those reasons, I voted no.

21 DR. VAIDA: Thank you.

22 Dr. Eisenberg?

1 DR. EISENBERG: Yes. This is Dr. David
2 Eisenberg. I also voted no and in agreement with
3 many of the conclusions the FDA made, specifically
4 that there is a USP available monograph for ferric
5 subsulfate solution that's widely available, and
6 that there's no need for this difficult to
7 characterize product in bulk form to be available.

8 DR. VAIDA: Dr. Rebello?

9 DR. REBELLO: This is Elizabeth Rebello. I
10 also voted no for the reasons that were stated
11 previously.

12 DR. VAIDA: Thank you.

13 Dr. Gura?

14 DR. GURA: Hi. This is Kathleen Gura. I
15 voted no for the same reasons.

16 DR. VAIDA: Dr. McElhiney?

17 DR. McELHINEY: Linda McElhiney. No.

18 DR. VAIDA: Dr. Lindsay?

19 DR. LINDSAY: Michael Lindsay. I voted no
20 for the reasons that have already been mentioned.

21 DR. VAIDA: Dr. Bogner?

22 DR. BOGNER: Robin Bogner. I voted no.

1 DR. VAIDA: Sandra Fusco-Walker?

2 MS. FUSCO-WALKER: Sandra Fusco-Walker. I
3 voted no.

4 DR. VAIDA: Thank you.

5 It looks like the committee overwhelmingly
6 voted no on this topic for many of the reasons that
7 were discussed.

8 DR. STEVENSON: I'm so sorry to interrupt,
9 Dr. Vaida. This is Takyiah speaking. I do not
10 believe we heard from Dr. Patel or Dr. Fensky, with
11 their name and vote.

12 DR. VAIDA: Dr. Patel?

13 DR. PATEL: Hi. Kuldip Patel, and I voted
14 no. Thank you.

15 DR. VAIDA: Dr. Fensky?

16 DR. FENSKY: Timothy Fensky, and I voted no.

17 DR. VAIDA: Thank you.

18 Thank you, everyone. We'll now take a
19 10-minute break and reconvene at 4:30. Thank you.

20 (Whereupon, at 4:19 p.m., a recess was
21 taken.)

22 DR. VAIDA: I now would like to welcome

1 everyone back, and we'll have Dr. Stevenson read
2 the Conflict of Interest Statement for this
3 meeting's Withdrawn or Removed List topic.

4 **Conflict of Interest Statement**

5 DR. STEVENSON: The Food and Drug
6 Administration, FDA, is convening today's meeting
7 of the Pharmacy Compounding Advisory Committee
8 under the authority of the Federal Advisory
9 Committee Act, FACA, of 1972. With the exception
10 of the National Association of Boards of Pharmacy,
11 NABP; and the United States Pharmacopeia, USP; and
12 the industry representatives, all members and
13 temporary voting members of the committee are
14 special government employees, SGEs, or regular
15 federal employees from other agencies and are
16 subject to federal conflict of interest laws and
17 regulations.

18 The following information on the status of
19 this committee's compliance with federal ethics and
20 conflict of interest laws, covered by but not
21 limited to those found at 18 U.S.C. Section 208, is
22 being provided to participants in today's meeting

1 and to the public.

2 FDA has determined that members and
3 temporary voting members of this committee are in
4 compliance with federal ethics and conflict of
5 interest laws. Under 18 U.S.C. Section 208,
6 Congress has authorized FDA to grant waivers to
7 special government employees and regular federal
8 employees who have potential financial conflicts
9 when it is determined that the agency's need for a
10 special government employee's services outweighs
11 his or her potential financial conflict of
12 interest, or when the interest of a regular federal
13 employee is not so substantial as to be deemed
14 likely to affect the integrity of the services
15 which the government may expect from the employee.

16 Related to the discussions of today's
17 meeting, members and temporary voting members of
18 this committee have been screened for potential
19 financial conflicts of interests of their own as
20 well as those imputed to them, including those of
21 their spouses or minor children and, for purposes
22 of 18 U.S.C. Section 208, their employers. These

1 interests may include investments; consulting;
2 expert witness testimony; contracts, grants,
3 CRADAs; teaching, speaking, writing; patents and
4 royalties; and primary employment.

5 The committee will discuss the revisions FDA
6 is considering for the Withdrawn or Removed List.
7 FDA is now considering whether to amend the rule to
8 add one more entry to the list, lorcaserin
9 hydrochloride: all drug products containing
10 lorcaserin hydrochloride.

11 As previously explained in the Federal
12 Register of July 2, 2014, 79 FR 37687 at 37689
13 through 37690, the list may specify that a drug may
14 not be compounded in any form, or alternatively may
15 expressly exclude a particular formulation,
16 indication, dosage form, or route of administration
17 from an entry on the list.

18 Moreover, a drug may be listed only with
19 regard to certain formulations, indications, routes
20 of administration, or dosage forms because it has
21 been found to be unsafe or not effective in those
22 particular formulations, indications, routes of

1 administration, or dosage forms. FDA plans to seek
2 the committee's advice concerning the inclusion of
3 this drug on the list.

4 This is a particular matters meeting during
5 which specific matters related to lorcaserin
6 hydrochloride will be discussed. Based on the
7 agenda for this meeting and all financial interest
8 reported by the committee members and temporary
9 voting members, a conflict of interest waiver has
10 been issued in accordance with 18 U.S.C.
11 Section 208(b)(3) to Dr. Kathleen Gura. Dr. Gura's
12 waiver involves stock holdings of an affected
13 entity. The aggregate value of the stock is
14 between \$50,000 and \$100,000.

15 The waiver allows the individual to
16 participate fully in today's deliberations. FDA's
17 reasons for issuing the waivers are described in
18 the waiver documents, which are posted on FDA's
19 website at [https://www.fda.gov/advisory-
20 committees/committees-and-meeting-materials/human-
21 drug-advisory-committees](https://www.fda.gov/advisory-
20 committees/committees-and-meeting-materials/human-
21 drug-advisory-committees).

22 Copies of the waiver may also be obtained by

1 submitting a written request to the agency's
2 Freedom of Information Division, 5630 Fishers Lane,
3 Room 1035, Rockville, Maryland, 20857, or requests
4 may be sent via fax to 301-827-9267.

5 To ensure transparency, we encourage all
6 standing committee members and temporary voting
7 members to disclose any public statements that they
8 have made concerning the topic at issue.

9 We would like to note that Dr. Timothy
10 Fensky is a representative member from the National
11 Association of Boards of Pharmacy, NABP, and
12 Dr. Brian Serumaga is a representative member from
13 the United States Pharmacopeia, USP.

14 Section 102 of the Drug Quality and Security
15 Act amended the Federal Food, Drug, and Cosmetic
16 Act with respect to the Advisory Committee on
17 Compounding to include representatives from the
18 NABP and the USP. Their role is to provide the
19 committee with the points of view of the NABP and
20 USP.

21 Unlike the other members of the committee,
22 representative members are not appointed to the

1 committee to provide their own individual judgment
2 on the particular matters at issue. Instead, they
3 serve as the voice of the NABP and USP entities
4 with a financial or other stake in the particular
5 matters before the advisory committee.

6 With respect to FDA's invited industry
7 representative, we would like to disclose that
8 Dr. Michael Bui and Mr. Richard Green are
9 participating in this meeting as non-voting
10 industry representatives, acting on behalf of
11 regulated industry. Their role at this meeting is
12 to represent industry in general and not any
13 particular company. Dr. Bui is employed by Pyxis
14 Oncology and Mr. Green is employed by Cardinal
15 Health Nuclear and Precision Health Solutions.

16 We would like to remind members and
17 temporary voting members that if the discussions
18 involve any other topics that are not already on
19 the agenda for which an FDA participant has a
20 personal or imputed financial interest, the
21 participants need to exclude themselves from such
22 involvement, and their exclusion will be noted for

1 the record. FDA encourages all participants to
2 advise the committee of any financial relationships
3 that they may have with the topic at issue.

4 Thank you. I will turn it back to the
5 chair.

6 DR. VAIDA: Thank you, Dr. Stevenson.

7 We'll now proceed with FDA's presentation on
8 the Withdrawn or Removed List process from
9 Gabrielle Cosel.

10 **FDA Presentation - Gabrielle Cosel**

11 MS. COSEL: Thank you very much, and good
12 afternoon. My name is Gabrielle Cosel, and I'll be
13 providing a brief overview of FDA's process for
14 creating the Withdrawn or Removed List.

15 One of the conditions that must be satisfied
16 for a drug product to qualify for the exemptions
17 under Section 503A or 503B of the Food, Drug, and
18 Cosmetic Act is that the compounder does not
19 compound a drug product that appears on a list of
20 products that have been withdrawn or removed from
21 the market due to reasons of safety or
22 effectiveness, and we call this the Withdrawn or

1 Removed List.

2 FDA has reviewed and added 85 bulk drug
3 substances to the Withdrawn or Removed List to
4 date. The way that we approach maintaining this
5 list is that we periodically review available
6 information on drugs that are withdrawn or removed
7 from the market due to reasons of safety or
8 effectiveness with the goal of identifying possible
9 new entries.

10 The information we review may include
11 Federal Register notices announcing withdrawal of
12 approval of a new drug application, or abbreviated
13 new drug application, for safety or effectiveness
14 reasons, or Federal Register notices announcing an
15 agency determination that a drug product that was
16 voluntarily withdrawn from sale was withdrawn for
17 reasons of safety or effectiveness.

18 We also review available information to
19 determine whether any approvals of new drug
20 applications would warrant modifications to
21 existing entries on the list. Appropriate
22 divisions within our Office of New Drugs evaluate

1 each identified candidate or proposed modification
2 using available information about the drug. The
3 responsible division will prepare a review of that
4 information to document its recommendations.

5 FDA will update the Withdrawn or Removed
6 List through notice and comment rulemaking, as we
7 clarified in the final rule in 2016. We intend to
8 propose regulations to revise the list when we
9 identify drugs that we tentatively determine should
10 be listed, and we also intend to propose
11 regulations when we determine that changes to the
12 status of drugs already on the list should be
13 revised.

14 Generally, we will finalize any additions or
15 modifications to the list after we consult the
16 advisory committee about the product and after
17 providing an opportunity for public comments to be
18 submitted on a proposed rule.

19 Today we'll be discussing one substance that
20 FDA is considering including on the list, and that
21 is lorcaserin hydrochloride: all drug products
22 containing lorcaserin hydrochloride. And with

1 that, I'll turn it back to the chair.

2 DR. VAIDA: Thank you.

3 We'll now proceed with the FDA presentation
4 on lorcaserin hydrochloride from Dr. Marianne San
5 Antonio.

6 **FDA Presentation - Marianne San Antonio**

7 DR. SAN ANTONIO: Hi. Good afternoon. My
8 name is Marianne San Antonio, and I am a physician
9 in the Office of New Drugs. I will discuss the
10 nomination for lorcaserin hydrochloride for
11 possible inclusion on the Withdrawn or Removed
12 List. I would like to recognize the entire
13 evaluation team, as well as the contribution of
14 many other FDA colleagues who helped with this
15 evaluation, special thanks to the Division of
16 Diabetes, Lipid Disorders, and Obesity.

17 The Withdrawn or Removed List is a list of
18 drug products that were withdrawn or removed from
19 the market because the products were found to be
20 unsafe or not effective. Drugs on the list cannot
21 qualify for exemptions under Section 503A or 503B,
22 and cannot be compounded.

1 Lorcaserin hydrochloride, whose trade name
2 was Belviq, is a selective agonist of the
3 5-hydroxytryptamine, or 5-HT, 2C receptors. It was
4 available in 10-milligram immediate-release or
5 20-milligram extended-release oral tablets. These
6 formulations were approved on June 27, 2012 and
7 July 15 2016. The approval for NDA 022529 included
8 a postmarketing requirement for a study
9 investigating cardiovascular adverse events
10 associated with the use of lorcaserin
11 hydrochloride. It was indicated for use as an
12 adjunct to a reduced-calorie diet and increased
13 physical activity for the treatment of chronic
14 weight management in adults with either obesity or
15 overweight, and at least one other weight-related
16 comorbid condition.

17 The postmarketing study, CAMELLIA-TIMI 61,
18 which stands for cardiovascular and metabolic
19 effects of lorcaserin in overweight and obese
20 patients, thrombolysis, and myocardial infarction,
21 was conducted by the sponsor to investigate
22 cardiovascular adverse events associated with the

1 use of lorcaserin hydrochloride. Safety concerns
2 were identified, but rather than cardiovascular
3 safety concerns, the primary safety concern was a
4 possible increased risk of malignancy.

5 Subsequently, lorcaserin hydrochloride drug
6 products were withdrawn from the market for safety
7 reasons.

8 Next, we will discuss the postmarketing
9 safety data that led to this withdrawal, and then
10 we will review lorcaserin hydrochloride's
11 regulatory history.

12 A postmarketing safety study was required by
13 FDA at the time of lorcaserin hydrochloride's
14 approval because of the occurrence of
15 cardiovascular adverse events during treatment with
16 other FDA-approved medications for weight loss.
17 Those medications had similar mechanisms of action
18 to lorcaserin hydrochloride and were previously
19 withdrawn from the market.

20 The trial included 12,000 overweight or
21 obese adult subjects with or at high risk for
22 atherosclerotic vascular disease. The primary

1 endpoints were risks for pulmonary hypertension and
2 valvular heart defects associated with lorcaserin
3 hydrochloride treatment. In the trial, neither
4 pulmonary hypertension nor valvular heart defects
5 occurred at an increased rate in patients treated
6 with lorcaserin hydrochloride compared to placebo.

7 The FDA's analysis of the study data
8 suggested an imbalance in cancer in humans with an
9 increased risk of malignancy when oral lorcaserin
10 hydrochloride was used for chronic weight
11 management in adults. Rates of certain cancers
12 were higher in the lorcaserin hydrochloride group.
13 These included colorectal cancer, pancreatic
14 cancer, and lung cancer.

15 Within the first 180 days of treatment, the
16 number of patients with a new cancer diagnosis was
17 similar in the lorcaserin hydrochloride and placebo
18 groups. However, beyond 180 days of treatment,
19 cancer risk was elevated among patients in the
20 lorcaserin hydrochloride group.

21 It is unclear by what mechanism lorcaserin
22 hydrochloride is associated with cancer. However,

1 the signal persisted through multiple analyses, and
2 the clinical findings were corroborated by the
3 evidence from animal models. Additional evidence
4 would be necessary to investigate the signal, but
5 FDA determined that it is unlikely that the
6 necessary safety endpoints such as cancer can be
7 readily or ethically investigated in a clinical
8 trial.

9 In 2012, the approval for lorcaserin
10 hydrochloride 10-milligram tablets under NDA 022529
11 included a postmarketing requirement to evaluate
12 the risk of cardiovascular adverse events
13 associated with the use of lorcaserin
14 hydrochloride. The CAMELLIA-TIMI study has
15 fulfilled this requirement, and data was collected
16 from 2014 to 2018. Although the primary outcome
17 measure of the study was to evaluate the risk of
18 cardiovascular problems associated with the use of
19 lorcaserin hydrochloride, FDA's analysis of the
20 results suggested an imbalance in cancer in humans.

21 In a drug safety communication issued on
22 January 14, 2020, the FDA alerted the public that

1 study results showed a possible increased risk of
2 cancer associated with lorcaserin hydrochloride.
3 In a drug safety communication issued on
4 February 13, 2020, the FDA asked the sponsor to
5 voluntarily withdraw lorcaserin hydrochloride from
6 the U.S. market. The sponsor requested FDA to
7 withdraw approval of the NDAs for Belviq and
8 Belviq XR.

9 On September 17, 2020, FDA published a
10 Federal Register notice withdrawing approval of the
11 applications for lorcaserin hydrochloride
12 10-milligram tablets and 20-milligram
13 extended-release tablets. On March 4, 2021, FDA
14 published a notice in the Federal Register
15 announcing that Belviq 10-milligram tablets and
16 Belviq XR 20-milligram tablets were withdrawn from
17 sale for reasons of safety or effectiveness, and
18 both products were removed from the Orange Book.

19 In summary, data from the CAMELLIA-TIMI 61
20 clinical study and nonclinical data suggest an
21 increased risk of malignancy with use of lorcaserin
22 hydrochloride. FDA concluded that lorcaserin

1 hydrochloride's benefits do not outweigh the risks
2 for the current indications. We are not aware of
3 data suggesting that the increased risk of
4 malignancy is restricted to particular lorcaserin
5 hydrochloride drug products, and lorcaserin
6 hydrochloride was withdrawn from the market due to
7 safety concerns.

8 FDA recommends that all drug products
9 containing lorcaserin hydrochloride be included on
10 the Withdrawn or Removed List using the following
11 entry: lorcaserin hydrochloride: all drug
12 products containing lorcaserin hydrochloride.

13 Thank you. This concludes my presentation.

14 DR. VAIDA: Thank you.

15 We'll now take clarifying questions for FDA
16 presenters. Please use the raise-hand icon to
17 indicate that you have a question, and remember to
18 clear the icon after you have asked your question.
19 When acknowledged, please remember to state your
20 name for the record before you speak and direct
21 your question to a specific presenter, if you can.
22 If you wish for a specific slide to be displayed,

1 please let us know the slide number, if possible.

2 Finally, it would be helpful to acknowledge
3 the end of your question with a thank you, and the
4 end of your follow-up question with, "That is all
5 for my question," so we can move on to the next
6 panel member.

7 (Pause.)

8 DR. VAIDA: I do not see any raised hands
9 currently. Are there any questions from the panel?

10 (No response.)

11 **Open Public Hearing**

12 DR. VAIDA: Since there are no questions, we
13 will now begin the open public hearing session.

14 Both the Food and Drug Administration and
15 the public believe in a transparent process for
16 information gathering and decision making. To
17 ensure such transparency at the open public hearing
18 session of the advisory committee meeting, FDA
19 believes that it is important to understand the
20 context of an individual's presentation.

21 For this reason, FDA encourages you, the
22 open public hearing speaker, at the beginning of

1 your written or oral statement to advise the
2 committee of any financial relationships that you
3 may have with the product and if known, its direct
4 competitors.

5 For example, this financial information may
6 include the payment for a bulk drug supplier or
7 compounding pharmacy of your travel, lodging, or
8 other expenses in connection with your attendance
9 at the meeting. Likewise, FDA encourages you at
10 the beginning of your statement to advise the
11 committee if you do not have any financial
12 relationships. If you chose not to address this
13 issue of financial relationships at the beginning
14 of your statement, it will not preclude you from
15 speaking.

16 The FDA and this committee place great
17 importance on the open public hearing process. The
18 insights and comments provided can help the agency
19 and this committee in their consideration of the
20 issues before them.

21 That said, in many instances and for many
22 topics, there will be a variety of opinions. One

1 of our goals today is for this open public hearing
2 to be conducted in a fair and open way where every
3 participant is listened to carefully and treated
4 with dignity, courtesy, and respect. Therefore,
5 please speak only when recognized by the chair.
6 Thank you for your cooperation.

7 Speaker number 1, your audio is connected
8 now. Will speaker number 1 begin and introduce
9 yourself? Please state your name and any
10 organization you're representing for the record.

11 DR. CAROME: Good afternoon. I'm
12 Dr. Michael Carome, director of Public Citizen's
13 Health Research Group. I have no conflicts of
14 interest.

15 Slide 2. Public Citizen urges the Pharmacy
16 Compounding Advisory Committee to endorse the FDA's
17 proposal to add all drug products containing
18 lorcaserin hydrochloride to the list of drug
19 products that have been withdrawn or removed from
20 the market because they have been found to be
21 unsafe or not effective, and that therefore may not
22 be compounded under the exemptions provided under

1 Section 503A and Section 503B of the Food, Drug,
2 and Cosmetic Act; hereafter, the Withdrawn or
3 Removed List codified at 21 CFR, Section 216.24.

4 Slide 3. The correct vote on this matter
5 could not be more obvious. On March 4, 2021, the
6 FDA published a notice in the Federal Register
7 announcing that the agency had determined that
8 Belviq lorcaserin hydrochloride tablets
9 10 milligrams and Belviq XR lorcaserin
10 extended-release tablets 20 milligrams, which were
11 initially approved by the FDA in June 2012 and
12 July 2016, respectively, as an adjunct to a reduced
13 calorie diet and increased physical activity for
14 chronic weight management in certain adults who are
15 overweight or obese, were withdrawn from sale for
16 reasons of safety or effectiveness, and that the
17 agency would not accept or approve abbreviated new
18 drug applications, or ANDAs, for lorcaserin
19 hydrochloride tablets 10 milligrams and
20 20 milligrams.

21 Slide 4. The following excerpts from the
22 FDA's March 4, 2021 notice indicate that these

1 lorcaserin products were withdrawn from sale
2 specifically for reasons of safety.

3 Quote, "In 2012, the agency required the
4 drug manufacturer to conduct a randomized, double-
5 blind, placebo-controlled trial to evaluate the
6 risk of cardiovascular problems. The
7 CAMELLIA-TIMI 61 clinical trial was conducted to
8 fulfill this requirement. An analysis of the
9 CAMELLIA-TIMI 61 trial results suggests an
10 imbalance in cancer in humans. Although chance
11 effects cannot be ruled out, the imbalance
12 persisted through multiple analysis approaches.

13 "The clinical findings corroborated by the
14 evidence from animal models informed the agency's
15 assessment that the risk outweighs any potential
16 benefits for current indications. These findings
17 were considered clinically meaningful and could not
18 be adequately addressed through labeling.

19 "Additional evidence would be necessary to
20 investigate the signal. However, the agency has
21 determined that it is unlikely that the necessary
22 safety endpoints, i.e., cancer and reproductive

1 safety, can be readily or ethically investigated in
2 a clinical trial. Because preclinical or clinical
3 studies would first need to be conducted to address
4 these concerns, the agency has determined that this
5 drug would not be considered safe and effective if
6 it were reintroduced into the market.

7 "The FDA issued a drug safety communication
8 on January 14, 2020, alerting the public that
9 results from a clinical trial assessing the risk of
10 heart-related problems show a possible increased
11 risk of cancer with Belviq and Belviq XR.

12 "On February 13, 2020, FDA announced that it
13 had asked Eisai to voluntarily withdraw Belviq and
14 Belviq XR from the U.S. market. On February 13,
15 2020, Eisai submitted a request to FDA to withdraw
16 approval of the NDA for Belviq and Belviq XR under
17 21 CFR 314.150(d) and waived its opportunity for a
18 hearing. As requested by Eisai, the agency issued
19 a Federal Register notice on September 17, 2020,
20 withdrawing approval of the application for Belviq
21 tablets 10 milligrams and Belviq XR 20 milligrams,
22 effective September 17, 2020.

1 "Accordingly, the agency will remove Belviq
2 lorcaserin hydrochloride tablets 10 milligrams and
3 Belviq XR lorcaserin hydrochloride extended-release
4 tablets 20 milligrams from the list of products
5 published in the Orange Book. FDA will not accept
6 or approve ANDAs that refer to this drug product,"
7 end quote.

8 Slide 5. Importantly, the Pharmacy
9 Compounding Review Team from the Center for Drug
10 Evaluation and Research's Office of New Drugs
11 appropriately recommended that all drugs containing
12 lorcaserin hydrochloride be included on the
13 Withdrawn or Removed List.

14 In support of this recommendation, the OND
15 observed the following: 1) lorcaserin products
16 were withdrawn from the market for safety reasons
17 with the primary safety concern being the drug's
18 increased risk of malignancy that was observed in
19 both the postmarketing trial data from the
20 CAMELLIA-TIMI 61 trial and nonclinical studies; and
21 2) although the mechanism by which lorcaserin
22 hydrochloride is associated with malignancy is

1 unknown, OND is not aware of data or information
2 suggesting that the increased risk of malignancy is
3 restricted to particular drug products containing
4 the active pharmaceutical ingredient lorcaserin
5 hydrochloride.

6 Slide 6, my final slide. Public Citizen
7 therefore urges you to protect public health by
8 voting in favor of the FDA's proposal that, quote,
9 "lorcaserin hydrochloride: all drug products
10 containing lorcaserin hydrochloride," be added to
11 the Withdrawn or Removed List under Section 503A
12 and 503B of the Food, Drug, and Cosmetic Act.

13 Moreover, moving forward, the FDA should not
14 delay initiating the notice and comment rulemaking
15 process for amending FDA regulations at 21 CFR
16 Section 216.24 once the agency has published a
17 determination that a drug product was withdrawn
18 from sale for reasons of safety.

19 Instead, to better protect public health,
20 whenever the FDA issues a notice announcing such a
21 determination, the agency simultaneously should
22 issue a notice of proposed rulemaking, proposing to

1 amend the Withdrawn or Removed List under FDA
2 regulations at 21 CFR, Section 216.24 to include
3 that drug product. Thank you for your attention.

4 DR. VAIDA: Thank you.

5 Our next speaker, your audio is connected
6 now. Will speaker number 2 begin and introduce
7 yourself? State your name and any organization you
8 are representing for the record.

9 DR. ZELDES: Good afternoon. Thank you for
10 the opportunity to speak today on behalf of the
11 National Center for Health Research. I am Dr. Nina
12 Zeldes, a senior [inaudible - audio gap] at the
13 center. We analyze scientific data to provide
14 objective health information to patients, health
15 professionals, and policymakers. We do not accept
16 funding from drug or medical device companies, so I
17 have no conflict of interest.

18 In this session, the committee is asked to
19 vote whether you agree with FDA's proposal that
20 drug products containing lorcaserin hydrochloride
21 should be added to the Withdrawn or Removed List.
22 Since this list includes drugs that were withdrawn

1 or removed from the market because they've been
2 found to be unsafe or ineffective, and because
3 Belviq has been withdrawn from the U.S. market for
4 safety reasons, there is no reason why this product
5 should still be available for compounding. In
6 fact, it has long been clear that Belviq poses a
7 risk to patients.

8 FDA already alerted the public more than two
9 years ago, in January 2020, that the results from a
10 postmarketing study which evaluated the risk of
11 cardiovascular problems showed an increased risk of
12 cancer. As a result, FDA asked the drug
13 manufacturer to withdraw this drug from the U.S.
14 market one month later. This trial showed that
15 compared to placebo, patients taking lorcaserin had
16 more total cancers, more cancer deaths, more
17 patients with multiple primary treatments, and more
18 patients with metastatic disease.

19 Even in the observed excess [indiscernible],
20 cancer risk was small, FDA announced that the risks
21 of Belviq outweigh any potential benefit and
22 withdrew the drug from sale for reasons of safety

1 or effectiveness in March 2021, and yet this drug
2 has not been added to the Withdrawn or Removed
3 List. Delaying the inclusion of unsafe drug
4 products poses an entirely avoidable risk to
5 patients. This is unacceptable.

6 Unfortunately, this is not the first time
7 that drug products that were deemed unsafe were not
8 added to this list in a timely fashion. When the
9 Withdrawn or Removed List was established in 1999,
10 it included 59 drug products and has been updated
11 only twice since then, once in 2016 to include an
12 additional 24 products, and one in 2018 to add
13 another two drug products.

14 These added drugs have in most cases already
15 been withdrawn or removed from the market over
16 concerns of safety or effectiveness several years
17 before. According to Section 503A of the federal
18 Food, Drug, and Cosmetic Act, FDA shall convene and
19 consult an advisory committee on compounding, as it
20 has today, and it also allows the issues of such
21 regulations before consultation if the secretary
22 determines that this is necessary to protect the

1 public health.

2 For many of these drugs, including Belviq,
3 we argue that an inclusion on the list at the same
4 time as the product is withdrawn from the market is
5 necessary to protect the public health. In
6 addition to adding Belviq to the Withdrawn or
7 Removed List as soon as possible, we agree with
8 Public Citizen's 2021 petition that the current
9 regulations should be revised so that every time a
10 drug product is withdrawn or removed from market
11 over safety or effectiveness concerns, that product
12 will also be included on this list at the same
13 time.

14 Such an amendment will reduce the time
15 potentially harmful drugs continue to be available
16 for compounding and will help eliminate this
17 entirely unnecessary risk for patients. Thank you
18 for your time.

19 **Committee Discussion and Vote**

20 DR. VAIDA: Thank you.

21 The open public hearing portion of this
22 meeting has now concluded, and we will no longer

1 take comments from the audience.

2 The committee will now turn its attention to
3 address the task at hand, the careful consideration
4 of the data before the committee, as well as public
5 comments. We will proceed with the question to the
6 committee. I would like to remind public observers
7 that while this meeting is open for public
8 observation, public attendees may not participate,
9 except at the specific request of the panel.

10 Today's question is a voting question.
11 Dr. Stevenson will provide the instructions for the
12 voting.

13 DR. STEVENSON: Question 5 is a voting
14 question. Voting members will use the Adobe
15 Connect platform to submit their votes for this
16 meeting. After the chairperson has read the voting
17 question into the record and all questions and
18 discussion regarding the wording of the vote
19 question are complete, the chairperson will
20 announce that voting will begin.

21 If you are a voting member, you will be
22 moved to a breakout room. A new display will

1 appear where you can submit your vote. There will
2 be no discussion in the breakout room. You should
3 select the radio button that is the round circular
4 button in the window that corresponds to your vote,
5 yes, no, or abstain. You should not leave the "no
6 vote" choice selected.

7 Please note that you do not need to submit
8 or send your vote. Again, you need only to select
9 the radio button that corresponds to your vote.
10 You will have the opportunity to change your vote
11 until the vote is announced as closed. Once all
12 voting members have selected their vote, I will
13 announce that the vote is closed.

14 Next, the vote results will be displayed on
15 the screen. I will read the vote results from the
16 screen into the record. Next, the chairperson will
17 go down the roster and each voting member will
18 state their name and their vote into the record.
19 You can also state the reason why you voted as you
20 did, if you want to.

21 Are there any questions about the voting
22 process before we begin?

1 (No response.)

2 DR. STEVENSON: Seeing none, I will turn it
3 back over to the chair.

4 DR. VAIDA: Thank you .

5 Question number 5 is drugs to be considered
6 for the Withdrawn or Removed List, lorcaserin
7 hydrochloride. The vote is FDA is proposing that
8 lorcaserin hydrochloride, all drugs containing
9 lorcaserin hydrochloride, be added to the Withdrawn
10 or Removed List under Sections 503A and 503B of the
11 Food, Drug, and Cosmetic Act. Do you agree?

12 Does the committee have any questions on the
13 wording, since the wording on this is a little
14 different with what a no or a yes means? Are there
15 any questions or comments concerning the wording?

16 (No response.)

17 DR. STEVENSON: Alright. Seeing none, we
18 will now move voting members to the voting breakout
19 room to vote only. There will be no discussion in
20 the voting breakout room.

21 (Voting.)

22 DR. STEVENSON: The voting is closed and is

1 now complete. Once the vote results display, I
2 will read the vote results into the record.

3 (Pause.)

4 DR. STEVENSON: The voting has closed and is
5 now complete. The vote results are displayed. I
6 will read the vote totals into the record. The
7 chairperson will go down a list, and each voting
8 member will state their name and their vote into
9 the record. You can also state the reason why you
10 voted as you did, if you want to.

11 There are 10 yeses, zero noes, zero
12 abstentions.

13 (Pause.)

14 DR. STEVENSON: Dr. Vaida, if you are
15 speaking, I do see that you are on mute.

16 DR. VAIDA: Sorry. Sorry about that.

17 Thank you. We will now go down the list and
18 have everyone who voted state their name and vote
19 into the record, and as stated, if you want to
20 provide justification for your vote, if you wish.

21 I'm Allen Vaida. I voted yes, and I think
22 our two open public speakers eloquently gave

1 reasons why I voted yes.

2 Dr. Gupta?

3 DR. GUPTA: I'm Anita Gupta, and I voted
4 yes, and I agree. I believe that there are strong
5 reasons to place this product on the Withdrawn or
6 Removed List. Thank you.

7 DR. VAIDA: Dr. Serumaga?

8 DR. SERUMAGA: It's Brian Serumaga, and I
9 voted yes.

10 DR. VAIDA: Dr. Rebello?

11 DR. REBELLO: This is Elizabeth Rebello, and
12 I also voted yes.

13 DR. VAIDA: Dr. Gura?

14 DR. GURA: Hi. This is Kathleen Gura. I
15 voted yes.

16 DR. VAIDA: Dr. Patel?

17 DR. PATEL: Hi. This is Kuldip Patel, and I
18 voted yes. Thank you.

19 DR. VAIDA: Dr. McElhiney?

20 DR. McELHINEY: Linda McElhiney, and I vote
21 yes.

22 DR. VAIDA: Dr. Bogner?

1 DR. BOGNER: This is Robin Bogner. I voted
2 yes.

3 DR. VAIDA: Sandra Fusco-Walker?

4 MS. FUSCO-WALKER: Sandra Fusco-Walker, and
5 I voted yes.

6 DR. VAIDA: And Dr. Fensky?

7 DR. FENSKY: This is Timothy Fensky, and I
8 voted yes. Thank you.

9 DR. VAIDA: Thank you, everyone.

10 It looks like we overwhelmingly voted yes
11 for this. I would just like to make one other
12 comment, that I've been on this committee before,
13 and I've heard the comments before on more timely
14 adding to the Withdrawn or Removed List, and I
15 agree with both of the open public speakers.

16 Before we adjourn, are there any last
17 comments from the FDA?

18 DR. GANLEY: Hi. This is Charley Ganley. I
19 just wanted to thank all the committee members for
20 their time today, and thank you for a great
21 meeting. Thanks.

22 **Adjournment**

1 DR. VAIDA: Alright. Thank you.

2 I also would like to thank all the committee
3 and panel members, and the FDA, for what turned out
4 to be a long day, but everyone hung in there, and
5 it looks like we got back on time.

6 So thank you, everyone, and we will now
7 adjourn the meeting.

8 (Whereupon, at 5:12 p.m., the afternoon
9 session was adjourned.)

10

11

12

13

14

15

16

17

18

19

20

21

22