

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ONCOLOGIC DRUGS ADVISORY COMMITTEE (ODAC) MEETING

Virtual Meeting

Morning Session

Thursday, September 22, 2022

9:00 a.m. to 1:10 p.m.

Meeting Roster**DESIGNATED FEDERAL OFFICER (Non-Voting)****She-Chia Chen, PharmD**

Division of Advisory Committee and

Consultant Management

Office of Executive Programs, CDER, FDA

ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS (Voting)**Jorge A. Garcia, MD, FACP**

(Chairperson)

Chief, Division of Solid Tumor Oncology

George & Edith Richman Distinguished

Scientist Chair

Professor of Medicine and Urology

GU Medical Oncology Program

University Hospitals Seidman Cancer Center

Case Comprehensive Cancer Center

Case Western Reserve University

Cleveland, Ohio

1 **Pamela L. Kunz, MD**

2 *(September 22 only)*

3 Associate Professor of Medicine (Oncology)

4 Division Chief, GI Oncology

5 Vice Chief

6 Diversity Equity and Inclusion, Medical Oncology

7 Yale School of Medicine and Yale Cancer Center

8 New Haven, Connecticut

9

10 **Christopher H. Lieu, MD**

11 Associate Professor of Medicine

12 Associate Director for Clinical Research

13 co-Director, Gastrointestinal Medical Oncology

14 University of Colorado Cancer Center

15 Aurora, Colorado

16

17

18

19

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Ravi A. Madan, MD

Senior Clinician, Genitourinary Malignancies Branch
Head, Prostate Cancer Clinical Research Section
Program Director, Physician-Scientist Early
Investigator Program
Center for Cancer Research
National Cancer Institute, National Institutes of
Health
Bethesda, Maryland

David E. Mitchell

(Consumer Representative)
Founder, Patients for Affordable Drugs
Bethesda, Maryland

1 **Ashley Rosko, MD**

2 *(September 22 AM session only)*

3 Associate Professor

4 Division of Hematology

5 Medical Director Oncogeriatric

6 The Ohio State University Comprehensive Cancer

7 Center

8 Columbus, Ohio

9

10 **Anthony D. Sung, MD**

11 *(September 22 only)*

12 Associate Professor of Medicine

13 Duke University School of Medicine

14 Duke Adult Blood and Marrow Transplant Clinic

15 Durham, North Carolina

16

17

18

19

20

21

22

1 **ACTING INDUSTRY REPRESENTATIVE TO THE COMMITTEE**

2 (Non-Voting)

3 **Albert L. Kraus, PhD**

4 *(Acting Industry Representative)*

5 Global Regulatory Portfolio Lead - Oncology

6 Pfizer, Inc.

7 Guilford, Connecticut

8

9 **TEMPORARY MEMBERS (Voting)**

10 **Balazs Halmos, MD**

11 *(September 22 AM session only)*

12 Associate Director for Clinical Science

13 Montefiore Einstein Cancer Center

14 Professor of Medicine

15 Albert Einstein College of Medicine

16 Bronx, New York

17

18 **David Harrington, MA, PhD**

19 Professor of Biostatistics (Emeritus)

20 Harvard T.H. Chan School of Public Health and

21 Dana-Farber Cancer Institute

22 Boston, Massachusetts

1 **James (Jim) G. Pantelas**

2 *(Patient Representative for September 22 AM session*
3 *only)*

4 Howell, Michigan

5

6 **Katherine Scilla, MD, FACP**

7 *(September 22 AM session only)*

8 Assistant Professor of Medicine

9 University of Maryland School of Medicine

10 Baltimore, Maryland

11

12 **Anish Thomas, MD**

13 *(September 22 AM session only)*

14 Investigator, Center for Cancer Research

15 Developmental Therapeutics Branch

16 National Cancer Institute

17 Bethesda, Maryland

18

19

20

21

22

1 **Scott A. Waldman, MD, PhD, FCP, FAHA, FNAI, FASPET**

2 *(September 22 only)*

3 Chair, Department of Pharmacology, Physiology, &
4 Cancer Biology

5 Samuel M.V. Hamilton Professor of Medicine

6 Jefferson (Philadelphia University + Thomas

7 Jefferson University)

8 Philadelphia, Pennsylvania

9

10 **FDA PARTICIPANTS (Non-Voting)**

11 **Richard Pazdur, MD**

12 Director, Oncology Center of Excellence (OCE)

13 Director (Acting)

14 Office of Oncologic Diseases (OOD)

15 Office of New Drugs (OND), CDER, FDA

16

17 **Julia Beaver, MD**

18 *(September 22 AM session only)*

19 Chief of Medical Oncology, OCE

20 Deputy Director (Acting), OOD

21 OND, CDER, FDA

22

1 **Harpreet Singh, MD**

2 *(September 22 AM session only)*

3 Director

4 Division of Oncology 2 (DO2)

5 OOD, OND, CDER, FDA

6

7 **Nicole Drezner, MD**

8 *(September 22 AM session only)*

9 Clinical Team Lead

10 DO2, OOD, OND, CDER, FDA

11

12 **Justin Malinou, MD**

13 *(September 22 AM session only)*

14 Clinical Reviewer

15 DO2, OOD, OND, CDER, FDA

16

17 **Jeanne Fourie Zirkelbach, PhD**

18 *(September 22 AM session only)*

19 Team Lead, Clinical Pharmacology

20 Division of Cancer Pharmacology 2

21 Office of Clinical Pharmacology

22 Office of Translational Sciences, CDER, FDA

1	C O N T E N T S	
2	AGENDA ITEM	PAGE
3	Call to Order	
4	Jorge Garcia, MD, FACP	12
5	Introduction of Committee	
6	She-Chia Chen, PharmD	12
7	Conflict of Interest Statement	
8	She-Chia Chen, PharmD	18
9	FDA Introductory Comments	
10	Nicole Drezner, MD	23
11	Applicant Presentations	
12	Spectrum Pharmaceuticals, Inc.	
13	Poziotinib for NSCLC Harboring HER2	
14	Exon 20 Insertion Mutations - Poziotinib	
15	Introduction	
16	Francois Lebel, MD, FRCPC	35
17	Unmet Need and Mechanism of Action	
18	John Heymach, MD, PhD	40
19	Efficacy	
20	Gajanan Bhat, PhD	48
21		
22		

1	C O N T E N T S (continued)	
2	AGENDA ITEM	PAGE
3	Safety	
4	Francois Lebel, MD, FRCPC	56
5	Clinical Perspective	
6	Mark Socinski, MD	65
7	FDA Presentations	
8	Poziotinib for HER2 Exon 20 Insertion	
9	Mutation-Positive Non-Small Cell	
10	Lung Cancer (NSCLC)	
11	Justin Malinou, MD	70
12	Jeanne Fourie Zirkelbach, PhD	79
13	Justin Malinou, MD	85
14	Clarifying Questions to Presenters	87
15	Open Public Hearing	134
16	Questions to the Committee and Discussion	160
17	Adjournment	205
18		
19		
20		
21		
22		

P R O C E E D I N G S

(10:00 a.m.)

Call to Order

DR. GARCIA: Good morning, and welcome. I would first like to remind everyone to please mute your line when you're not speaking. For media and press, the FDA press contact is April Grant. Her email and phone number are currently displayed.

My name is Jorge Garcia, and I will be chairing today's meeting. I will now call the first session of the September 22-23 of 2022 meeting of the Oncology Drug Advisory Committee to order. Dr. She-Chia Chen is the designated federal officer for this meeting, and she will begin with introductions.

Introduction of Committee

DR. CHEN: Thank you, Dr. Garcia.

Good morning. My name is She-Chia Chen, and I am the designated federal officer for this meeting. When I call your name, please introduce yourself by stating your name and affiliation. We'll first start with ODAC members.

1 Dr. Garcia?

2 DR. GARCIA: Jorge Garcia. I'm a GU medical
3 oncologist and the chief of Solid Tumor Oncology at
4 University Hospitals Seidman Cancer Center, at Case
5 Western Reserve University in Cleveland, Ohio.

6 DR. CHEN: Dr. Kunz?

7 DR. KUNZ: Good morning. My name is Pamela
8 Kunz. I'm a GI oncologist and director of the GI
9 cancer program at Yale Cancer Center in New Haven
10 Connecticut.

11 DR. CHEN: Dr. Lieu?

12 DR. LIEU: Good morning, everybody. My name
13 is Chris Lieu. I'm a GI medical oncologist and
14 associate director for clinical research at the
15 University of Colorado Cancer Center.

16 DR. CHEN: Dr. Madan?

17 DR. MADAN: Good morning. My name is Ravi
18 Madan. I'm a medical oncologist specializing in GU
19 malignancies at the National Cancer Institute.

20 DR. CHEN: Mr. Mitchell?

21 MR. MITCHELL: Hi. I'm David Mitchell. I'm
22 the consumer representative to ODAC. I am the

1 founder of Patients for Affordable Drugs, and I'm a
2 cancer patient myself.

3 DR. CHEN: Dr. Rosko?

4 DR. ROSKO: Good morning. I'm Ashley Rosko.
5 I'm an associate professor in the Division of
6 Hematology at the Ohio State University, and
7 medical director of the oncogeriatric program.

8 DR. CHEN: And Dr. Sung?

9 DR. SUNG: Good morning. My name is Anthony
10 Sung. I'm an associate professor of medicine in
11 the Division of Hematologic Malignancies and
12 Cellular Therapy at Duke University.

13 DR. CHEN: Thank you.

14 Next, we'll move on to temporary voting
15 members.

16 Dr. Halmos?

17 DR. HALMOS: Good morning. This is Balazs
18 Halmos here. I'm a thoracic oncologist, and I'm
19 also associate director for clinical science at the
20 Montefiore Einstein Cancer Center in the Bronx, New
21 York.

22 DR. CHEN: Dr. Harrington?

1 DR. HARRINGTON: Good morning. This is Dave
2 Harrington. I am a biostatistician at Dana-Farber
3 Cancer Institute and the Harvard School of Public
4 Health.

5 DR. CHEN: Mr. Pantelas?

6 MR. PANTELAS: Good morning. I'm Jim
7 Pantelas. I'm a patient representative, Navy
8 veteran, and 17-year survivor of stage 3B non-small
9 cell lung cancer.

10 DR. CHEN: Dr. Scilla?

11 DR. SCILLA: Good morning. I'm Katherine
12 Scilla. I'm a thoracic medical oncologist at the
13 University of Maryland Greenebaum Comprehensive
14 Cancer Center in Baltimore, Maryland.

15 DR. CHEN: Dr. Thomas?

16 DR. THOMAS: Anish Thomas. I'm a clinical
17 investigator/thoracic oncologist, focused on lung
18 cancers mostly, at the National Cancer Institute.

19 DR. CHEN: And Dr. Waldman?

20 DR. WALDMAN: Good morning. I'm Scott
21 Waldman. I am the chair of the Department of
22 Pharmacology, Physiology & Cancer Biology. I'm an

1 internist with a subspecialty in clinical
2 pharmacology, and my research focuses in GI
3 malignancies.

4 DR. CHEN: Next is the acting industry
5 representative to the committee, Dr. Kraus.

6 DR. KRAUS: Yes. Good morning, everyone.
7 Albert Kraus. I work in cancer drug discovery and
8 development. I'm currently with Pfizer
9 Corporation.

10 DR. CHEN: Thank you.

11 Finally, I will like to introduce FDA
12 participants.

13 Dr. Pazdur?

14 DR. PAZDUR: Hi. I'm Richard Pazdur, and
15 I'm the director of the Oncology Center of
16 Excellence here at the FDA.

17 DR. CHEN: Dr. Beaver?

18 DR. BEAVER: Hi. I'm Julia Beaver. I'm a
19 medical oncologist and chief of medical oncology in
20 the Oncology Center of Excellence at FDA.

21 DR. CHEN: Dr. Singh?

22 DR. SINGH: Hi. This is Harpreet Singh.

1 I'm the director of the Division of Oncology 2 here
2 at the FDA, which houses thoracic cancer.

3 DR. CHEN: Dr. Drezner?

4 DR. DREZNER: Hi. This is Nicole Drezner.

5 I am a cross-disciplinary team lead in the Division
6 of Oncology 2 at the FDA.

7 DR. CHEN: Dr. Malinou?

8 DR. MALINOU: Hi. This is Justin Malinou.

9 I am a medical oncologist and clinical reviewer in
10 the Division of Oncology 2 at the FDA.

11 DR. CHEN: And Dr. Fourie Zirkelbach?

12 DR. ZIRKELBACH: Hi. I'm Jeanne Fourie
13 Zirkelbach. I'm a clinical pharmacologist at FDA.

14 DR. CHEN: Thank you all.

15 DR. GARCIA: For topics such as those being
16 discussed at this meeting, there are often a
17 variety of opinions, some of which are quite
18 strongly held. Our goal is that this meeting will
19 be a fair and open forum for discussion of these
20 issues, and that individuals can express their
21 views without interruption.

22 Thus, a gentle reminder; individuals will be

1 allowed to speak into the record only if recognized
2 by the chairperson. We look forward to a
3 productive meeting.

4 In the spirit of the Federal Advisory
5 Committee Act and the Government in the Sunshine
6 Act, we ask that the advisory committee members
7 take care that their conversations about the topic
8 at hand take place in the open forum of the
9 meeting.

10 We are aware that members of the media are
11 anxious to speak with the FDA about these
12 proceedings, however, FDA will refrain from
13 discussing the details of this meeting with the
14 media until its conclusion. Also, the committee is
15 reminded to please refrain from discussing the
16 meeting topic during the break. Thank you.

17 Dr. She-Chia Chen will now read the Conflict
18 of Interest Statement.

19 Dr. Chen?

20 **Conflict of Interest Statement**

21 DR. CHEN: Thank you, Dr. Garcia.

22 The Food and Drug Administration, FDA, is

1 convening today's meeting of the Oncologic Drugs
2 Advisory Committee under the authority of the
3 Federal Advisory Committee Act, FACA, of 1972.
4 With the exception of the industry representative,
5 all members and temporary voting members of the
6 committee are special government employees, SGEs,
7 or regular federal employees from other agencies
8 and are subject to federal conflict of interest
9 laws and regulations.

10 The following information on the status of
11 this committee's compliance with federal ethics and
12 conflict of interest laws, covered by but not
13 limited to those found at 18 U.S.C. Section 208, is
14 being provided to participants in today's meeting
15 and to the public.

16 FDA has determined that members and
17 temporary voting members of this committee are in
18 compliance with federal ethics and conflict of
19 interest laws. Under 18 U.S.C. Section 208,
20 Congress has authorized FDA to grant waivers to
21 special government employees and regular federal
22 employees who have potential financial conflicts

1 when it is determined that the agency's need for a
2 special government employee's services outweighs
3 his or her potential financial conflict of
4 interest, or when the interest of a regular federal
5 employee is not so substantial as to be deemed
6 likely to affect the integrity of the services
7 which the government may expect from the employee.

8 Related to the discussions of today's
9 meeting, members and temporary voting members of
10 this committee have been screened for potential
11 financial conflicts of interests of their own as
12 well as those imputed to them, including those of
13 their spouses or minor children and, for purpose of
14 18 U.S.C. Section 208, their employers. These
15 interests may include investments; consulting;
16 expert witness testimony; contracts, grants,
17 CRADAs; teaching, speaking, writing; patents and
18 royalties; and primary employment.

19 Today's agenda involves the discussion of
20 new drug application, NDA, 215643 for poziotinib
21 tablets, submitted by Spectrum Pharmaceuticals,
22 Inc. The proposed indication, use, for this

1 product is for the treatment of patients with
2 previously treated, locally advanced or metastatic
3 non-small cell lung cancer, NSCLC, harboring HER2
4 exon 20 insertion mutations. Select patients with
5 NSCLC for treatment with poziotinib based on the
6 presence of HER2 exon 20 insertion mutations using
7 an FDA-approved test. This is a particular matters
8 meeting during which specific matters related to
9 Spectrum Pharmaceuticals' NDA will be discussed.

10 Based on the agenda for today's meeting and
11 all financial interests reported by the committee
12 members and temporary voting members, conflict of
13 interest waivers have been issued in accordance
14 with 18 U.S.C. Section 208 (b) (3) to Drs. Balazs
15 Halmos and Ashley Rosko.

16 Dr. Halmos' waiver involves his employer's
17 research contract for one study funded by competing
18 firms. This study is funded by a competing firm,
19 and Dr. Halmos' employer received between \$0 and
20 \$50,000 per year. Dr. Rosko's waiver involves her
21 employer's research contract for one study funded
22 by a competing firm. This study is funded by

1 Innovent Biotherapeutics, and Dr. Rosko is not
2 aware of the funding amounts being provided to her
3 institution for the study.

4 The waivers allow these individuals to
5 participate fully in today's deliberations. FDA's
6 reasons for issuing the waivers are described in
7 the waiver documents, which are posted on FDA's
8 website at [www.fda.gov/advisory-committees/
9 committees-and-meeting-materials/human-drug-
10 advisory-committees](http://www.fda.gov/advisory-committees/committees-and-meeting-materials/human-drug-advisory-committees).

11 Copies of the waivers may also be obtained
12 by submitting a written request to the agency's
13 Freedom of Information Division, 5630 Fishers Lane,
14 Room 1035, Rockville, Maryland, 20857, or requests
15 may be sent via fax to 301-827-9267. To ensure
16 transparency, we encourage all standing committee
17 members and temporary voting members to disclose
18 any public statements that they have made
19 concerning the product at issue.

20 With respect to FDA's invited industry
21 representative, we will like to disclose that
22 Dr. Albert Kraus is participating in this meeting

1 as a non-voting industry representative acting on
2 behalf of a regulated industry. Dr. Kraus' role at
3 this meeting is to represent industry in general
4 and not any particular company. Dr. Kraus is
5 employed by Pfizer.

6 We would like to remind members and
7 temporary voting members that if the discussions
8 involve any other product or firms not already on
9 the agenda for which an FDA participant has a
10 personal or imputed financial interest, the
11 participants need to exclude themselves from such
12 involvement, and their exclusion will be noted for
13 the record. FDA encourages all other participants
14 to advise the committee of any financial
15 relationships that they may have with the firm at
16 issue. Thank you.

17 DR. GARCIA: Thank you, Dr. Chen.

18 We will now proceed with FDA introductory
19 comments from Dr. Nicole Drezner.

20 Dr. Drezner?

21 **FDA Introductory Comments - Nicole Drezner**

22 DR. DREZNER: Good morning. I am Nicole

1 Drezner, an oncologist and cross-disciplinary team
2 leader at the FDA. I will refer to Spectrum
3 Pharmaceuticals as the applicant for the remainder
4 of the presentation.

5 FDA is bringing this application to the
6 Oncologic Drugs Advisory Committee to enable public
7 discussion of the poziotinib drug development
8 program and our significant concerns regarding the
9 overall risk-benefit assessment. The applicant is
10 seeking accelerated approval of poziotinib
11 16 milligrams once daily for the treatment of
12 patients with previously treated, locally advanced
13 or metastatic non-small cell lung cancer harboring
14 HER2 exon 20 insertion mutations. Poziotinib is an
15 oral inhibitor of pan-epidermal growth factor
16 receptors, including HER2. As this application is
17 being considered for accelerated approval, I will
18 first discuss the provisions of the accelerated
19 approval program.

20 The ZENITH20 study provides the primary
21 efficacy and safety data in support of the
22 application. After presenting the top-line

1 results, I will provide a high-level overview of
2 the four major review issues contributing to the
3 FDA's risk-benefit assessment.

4 The efficacy of poziotinib does not
5 demonstrate a meaningful improvement over available
6 therapy, given the low overall response rate and
7 limited duration of response. The safety data for
8 poziotinib demonstrates a high rate of toxicity
9 with a substantial incidence of drug interruptions
10 and dose reductions. The dose was not adequately
11 optimized, and the confirmatory trial has yet to
12 enroll any patients. Finally, I will present the
13 discussion topics and voting questions for the ODAC
14 committee.

15 As you will hear in the subsequent FDA
16 presentations, the major review issues were
17 discussed with the applicant prior to submission of
18 the NDA and throughout poziotinib's development.
19 Beginning in 2017, we informed the applicant that
20 their plans for dose optimization were not adequate
21 to support a registrational program. When the
22 applicant presented their top-line data at a

1 pre-NDA meeting in late 2020, we requested that
2 additional data on dose optimization be provided in
3 the NDA but felt that the application was
4 fillable [ph].

5 Given that one year elapsed between the
6 pre-NDA meeting and submission of the NBA, our
7 concerns were once again expressed in July 2021,
8 and the applicant committed to providing additional
9 data at the time of NDA submission. Our formal
10 review began in November 2021, which has confirmed
11 and magnified our several concerns.

12 According to the Code of Federal
13 Regulations, accelerated approval may be granted to
14 a drug that is intended to treat a life-threatening
15 disease and has an effect on an intermediate
16 endpoint that is reasonably likely to predict
17 clinical benefit. Accelerated approval is
18 available only for products that provide a
19 meaningful therapeutic benefit over existing
20 treatments, and further investigation of the drug
21 to verify its clinical benefit is required.

22 FDA guidance interprets a meaningful

1 therapeutic benefit to be an improvement in
2 efficacy and/or safety in the context of available
3 therapy. Given the residual uncertainty associated
4 with a drug approved under the accelerated approval
5 pathway, for regulatory purposes, the Division of
6 Oncology has considered available therapies to be
7 marketed drugs with traditional or regular approval
8 or those that are considered standard of care. FDA
9 guidance also states that if postmarketing studies
10 are required as part of the accelerated approval
11 provision, it is anticipated that the confirmatory
12 trial should be underway at the time of an
13 accelerated approval action.

14 I will now review the ZENITH20 study design.
15 ZENITH20 is an ongoing multicohort, dose-finding
16 and activity-estimating study of poziotinib in
17 patients with non-small cell lung cancer with EGFR
18 or HER2 exon 20 insertion mutations. Patients
19 enrolled in Cohort 2 provide the primary efficacy
20 data for this application. The primary endpoint is
21 overall response rate assessed by independent
22 central review. Secondary endpoints included

1 duration of response. Data from Cohort 5 was also
2 included in the NDA to provide supportive evidence
3 for the adequacy of dose optimization, and included
4 patients treated at a range of doses.

5 I will now describe the major risk-benefit
6 considerations for this application. First, we
7 assert that the efficacy of poziotinib, as
8 demonstrated by the limited response rate with poor
9 durability observed in the primary efficacy
10 population, is not improved over available therapy.

11 For patients with non-small cell lung cancer
12 who have received both prior platinum-based
13 chemotherapy and an immune checkpoint inhibitor,
14 available therapy includes docetaxel in combination
15 with ramucirumab, with a benchmark ORR of
16 23 percent. Anti-PD-L1 therapies are considered
17 available therapy if not previously received and
18 are associated with lower ORRs with more
19 substantial durability than what is observed with
20 chemotherapy.

21 Trastuzumab deruxtecan, a HER2 targeting
22 antibody drug conjugate, received accelerated

1 approval last month for the treatment of patients
2 with HER2 mutated non-small cell lung cancer, an
3 indication which would include the patients who
4 comprised the primary efficacy population in this
5 application. The drug demonstrated a response rate
6 of 58 percent with a duration of response of
7 8.7 months, both considerably greater than what was
8 observed with poziotinib. A randomized trial of
9 trastuzumab deruxtecan to confirm its clinical
10 benefit is well underway.

11 The ORR for poziotinib-treated patients in
12 the primary efficacy population was low at
13 28 percent, with poor durability demonstrated by a
14 median DOR of 5.1 months. Of the 25 responders,
15 24 percent had a response lasting more than
16 6 months. Similar results were observed in the
17 subgroup of patients who had progressed on both
18 prior platinum-based chemotherapy and an immune
19 checkpoint inhibitor. Importantly, one-third of
20 the patients did not receive prior treatment with
21 an immune checkpoint inhibitor, which would be
22 considered an available treatment option for these

1 patients.

2 The second key issue for discussion is the
3 high rate of toxicity observed with poziotinib at
4 the proposed dosage. In FDA's subsequent
5 presentation, we will describe the highly toxic
6 safety profile seen with the 16-milligram,
7 once-daily dose reflected by approximately
8 80 percent of patients requiring treatment
9 interruption and over half requiring dose
10 reduction. The overall toxicity profile is driven
11 by very high rates of diarrhea, mucositis, and
12 rash, each occurring in over 70 percent of
13 patients. In addition, our review details fatal
14 events of pneumonitis observed at this dose level.
15 It is still unclear whether alternative doses would
16 improve the toxicity profile associated with
17 poziotinib.

18 The third key issue for discussion is the
19 inadequate optimization of the poziotinib dosage
20 throughout the development program. You will hear
21 from our clinical pharmacologist that the
22 16-milligram daily dose may not represent the

1 optimal regimen. The applicant studied other doses
2 in very limited patient numbers, resulting in
3 similar response rates with overlapping confidence
4 intervals. Given the toxicity profile of the
5 16-milligram daily dose, further dose exploration
6 is warranted.

7 The final key issue for discussion is the
8 delay in confirmation of benefit. To verify the
9 clinical benefit of poziotinib, the applicant has
10 planned a randomized study of poziotinib
11 8 milligrams twice daily versus docetaxel in the
12 second-line setting, the same population for which
13 they are seeking accelerated approval. The primary
14 endpoint is progression-free survival and the
15 targeted benefit is 2.5 months.

16 However, despite ongoing discussions with
17 the FDA about the need for a confirmatory trial
18 beginning as early as 2020, enrollment was not
19 opened until well after submission of the NDA, and
20 no patients have been enrolled as of this month.
21 Furthermore, the selection of poziotinib
22 8-milligram BID as the dosage to be tested in the

1 confirmatory trial is incongruent with the
2 potential approval of a dosage of 16 milligrams
3 once daily, and with the applicant's assertion of
4 improved efficacy at 16 milligrams once daily.
5 Finally, it may not be feasible for this planned
6 study to be conducted given the recent approval of
7 trastuzumab deruxtecan in the same space.

8 The risk of treatment with poziotinib at the
9 proposed dosage must be considered in the context
10 of its potential benefit in a rare patient
11 population with few available therapies. FDA's
12 risk-benefit assessment presents layers of
13 uncertainties when the application is considered in
14 its totality. The efficacy results from ZENITH20
15 are not improved over currently marketed
16 second-line therapies. If granted accelerated
17 approval, this would be the least effective
18 targeted therapy for lung cancer approved to date.

19 In addition, there was a high rate of
20 toxicity at the proposed dosage. The applicant
21 failed to adequately explore various dosages
22 throughout the development program, resulting in

1 disparate dosages being investigated in ZENITH20
2 versus the planned confirmatory trial. It is still
3 unclear whether the 8-milligram twice daily dose is
4 optimal.

5 Finally, given that the confirmatory trial
6 is not yet under way, the risks of severe
7 toxicities and marginal efficacy may be borne by
8 patients for years, pending results of the
9 randomized study.

10 I will now present the discussion topics and
11 voting question for the advisory committee. We ask
12 that the committee discuss the overall risk-benefit
13 of poziotinib 16-milligram, once daily, given the
14 following: its limited response rate with poor
15 durability; high rate of toxicity; inadequate
16 optimization of the currently proposed dose; and
17 the delay in confirmation or refutation of benefit.

18 We would like the advisory committee to vote
19 on the following question. Do the current benefits
20 of poziotinib outweigh its risks for the treatment
21 of patients with non-small cell lung cancer with
22 HER2 exon 20 insertion mutations? Thank you.

1 DR. GARCIA: Thank you, Dr. Drezner.

2 Both the FDA and the public believe in a
3 transparent process for information gathering and
4 decision making. To ensure such transparency at
5 the advisory committee meeting, FDA believes that
6 it is important to understand the context of an
7 individual's presentation.

8 For this reason, FDA encourages all
9 participants, including Spectrum Pharmaceuticals
10 LLC's non-employee presenters, to advise the
11 committee of any financial relationships that they
12 may have with the sponsor such as consulting fees,
13 travel expenses, honoraria, and interest in the
14 sponsor, including equity interests and those based
15 upon the outcome of the meeting.

16 Likewise, FDA encourages you at the
17 beginning of your presentation to advise the
18 committee if you do not have any such financial
19 relationships. If you choose not to address the
20 issue of financial relationships at the beginning
21 of your presentation, it will not preclude you from
22 speaking.

1 We will now proceed with presentations from
2 Spectrum Pharmaceuticals, Inc.

3 **Applicant Presentation - Francois Lebel**

4 DR. LEBEL: Good morning. Mr. Chairman,
5 members of the committee, FDA representatives, and
6 members of the public, I'm Francois Lebel, the
7 executive vice president of R&D at Spectrum
8 Pharmaceuticals. I first would like to thank you
9 for the opportunity to share the data supporting
10 our application for an accelerated approval of
11 poziotinib for the treatment of patients with
12 non-small cell lung cancer, harboring HER2 exon 20
13 insertion mutations. I would also like to thank
14 patients and their families, investigators and
15 their staff, who have supported our efforts to
16 develop this drug and made it possible for us to be
17 here today.

18 Poziotinib is an oral, irreversible,
19 tyrosine kinase inhibitor or TKI. Patients with
20 HER2 exon 20 insertion mutations urgently need
21 effective and safe therapy. Our presentation today
22 will demonstrate that poziotinib is clinically

1 effective and safe in a patient population who
2 currently has no approved oral treatment options.

3 In early 2021, the FDA granted fast-track
4 designation for poziotinib in our proposed
5 indication shown here. Our clinical development
6 program consists of 22 studies in more than
7 1300 patients, representing the largest data set in
8 this rare disease. We extensively evaluated doses
9 in seven studies at multiple dose levels, from
10 0.5 to 32 milligrams per day. In addition, we
11 conducted our positive pivotal study at
12 16 milligrams QD.

13 For our presentation today, we will focus
14 primarily on our ongoing Study 202, also known as
15 ZENITH20, which is a global, open-label,
16 multicohort phase 2 trial. Pivotal efficacy data
17 comes from Cohort 2 in previously treated patients.
18 This is the largest study ever conducted in
19 patients with HER2 exon 20 insertion mutations.
20 The study met its prespecified endpoint.

21 Supportive efficacy data in the second-line
22 setting comes from Study 202, Cohort 5, in an

1 investigator initiated study conducted at
2 MD Anderson Cancer Center. Supportive efficacy
3 data in the first-line setting comes from Cohort 4,
4 which also met its prespecified primary endpoint.
5 Taken together, the clinical data supports approval
6 of poziotinib under the accelerated approval
7 pathway.

8 Our clinical program was designed in
9 accordance with FDA guidance and meets the criteria
10 for accelerated approval. First, non-small cell
11 lung cancer, HER2 exon 20 insertion, is recognized
12 as a rare and life-threatening disease. Second,
13 poziotinib provides a meaningful advantage over
14 available therapies with an overall response rate
15 of 28 percent, exceeding all available therapies.
16 Please note that as highlighted in the FDA's
17 briefing document, trastuzumab deruxtecan is not
18 considered available therapy.

19 Third, pozi [ph] demonstrated substantial
20 evidence of efficacy in the pivotal Cohort 2,
21 exceeding the prespecified ORR, which is likely to
22 predict clinical benefit. And finally, a

1 randomized confirmatory trial, Study 301, is
2 currently underway to confirm the clinical benefit
3 demonstrated in this patient population, with a
4 futility analysis in 2024.

5 There are four key points for discussion
6 today. Patients need new treatment options now.
7 Poziotinib addresses the unmet need, and the ORR
8 exceeded available therapies and met the
9 prespecified primary endpoint. The safety profile
10 is typical of the TKI class with high rates of
11 diarrhea and rash, with a low rate of permanent
12 discontinuation. These AEs are familiar and
13 handled routinely by the medical oncology
14 community. In addition, poziotinib shows a low
15 rate of fatal pneumonitis.

16 We must point out that extensive dosing
17 study has already been done for this rare disease,
18 including seven studies comprising over
19 404 patients. The 16-milligram QD dose met the
20 primary endpoint with protocol allowed dose
21 modification. Finally, the confirmatory study is
22 actively underway with 8-milligram BID dosing, as

1 agreed with the FDA. We remain committed to
2 working with the FDA if an alternate dose warrants
3 further evaluation. A futility analysis will be
4 conducted within two years.

5 With that background, let me take you
6 through the agenda for today's presentation.
7 Dr. John Heymach, head of Thoracic Head and Neck
8 Medical Oncology department at MD Anderson, will
9 present the unmet need and the mechanism of action
10 of poziotinib. Dr. Gajanan Bhat from Spectrum will
11 review the efficacy data.

12 I will then return to present our safety
13 data, and Dr. Mark Socinski from the AdventHealth
14 Cancer Institute will provide a clinical
15 perspective as an experienced thoracic medical
16 oncologist and investigator in our program. We
17 have additional experts here with us today to help
18 answer your questions. All external experts have
19 been compensated for their time.

20 Thank you. I will now turn the presentation
21 over to Dr. Heymach.

22 John?

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Applicant Presentation - John Heymach

DR. HEYMACH: Well, thank you, and good morning. I'm John Heymach, and I'm professor and chair of Thoracic Head and Neck Medical Oncology at MD Anderson. Over the past two decades, I focused my career on caring for lung cancer patients, conducting clinical trials, and running a laboratory that studies new targeted therapies for HER2 mutations and other subgroups. I'm here today as a clinician and an investigator with first-hand experience using poziotinib to treat these patients with a high unmet need.

ErbB-2 exon 20 insertions are a targetable oncogenic driver, and there are therapeutic targets in non-small cell lung cancer. HER2 mutations as a whole comprise 2 to 3 percent of non-small cell lung cancer cases. Among these, the majority -- up to 86 percent or about 2300 cases per year in the U.S. -- are specifically exon 20 insertions, which is the population we're discussing today. Importantly, this is the only major tyrosine kinase subgroup for which there is no oral tyrosine kinase

1 inhibitor currently FDA approved. This is due to
2 the structural challenge in developing inhibitors
3 of this particular mutated kinase domain. I'll
4 briefly describe the challenge in developing TKIs
5 for these patients.

6 The ribbon diagram shows the crystal
7 structure of the HER2 kinase domain. HER2 exon
8 20 insertions cause the P-loop of the protein,
9 shown here in green, as well as the alpha C helix
10 in turquoise, to be pushed inward, reducing the
11 volume of the binding pocket and resulting in
12 steric hindrance, making it hard for most TKIs
13 available in the clinic to bind. For this reason,
14 TKIs active for tumors with amplification of
15 wild-type HER2, like neratinib or lapatinib, are
16 not effective here. Poziotinib is able to overcome
17 this steric hindrance largely because of two
18 features: the small halogenated terminal group and
19 the flexible amine linker that enables the molecule
20 to fit into the binding pocket. Because of this
21 structure, the molecule is able to more potently
22 inhibit these insertions.

1 Here we show viability curves for the six
2 most common HER2 exon 20 insertions. The further
3 to the left, the greater the potency of inhibition.
4 As you can see, poziotinib is an order of magnitude
5 or more potent than other HER2 TKIs currently in
6 the clinic, such as neratinib or lapatinib. All of
7 these drugs also have some activity against
8 wild-type EGFR, which leads to the predictable,
9 reversible class effects, such as rash and
10 diarrhea.

11 Other TKIs have been tested in the clinic
12 for this population, and the clinical data is
13 consistent with the preclinical observations. In
14 the first four rows are trials that specifically
15 tested TKIs for HER2 exon 20 insertions, and as you
16 can see in the highlighted text, response rates are
17 quite low, ranging from 0 to 12 percent. This
18 really highlights that TKIs currently available in
19 the clinic for other purposes are not effective
20 against HER2 exon 20 insertions.

21 Given the lack of efficacy of the current
22 HER2 TKIs, what are the treatment options to these

1 patients after first-line, platinum-doublet
2 therapy? This data here is from the FDA briefing
3 document. As you see, docetaxel has a low response
4 rate and a median PFS of about 3 months. While
5 this is not highly active, it is the most relevant
6 standard, and for this reason has been used as the
7 standard comparator for phase 3 studies in platinum
8 refractory, non-small cell lung cancer patients.
9 Docetaxel has also been combined with ramucirumab,
10 and a narrow response rate of 23 percent was
11 observed in a median PFS of 4.5 months. Given its
12 toxicities and the comorbidities of the non-small
13 cell population, ramucirumab is only used in a
14 minority of cases.

15 Finally, I'll point out that trastuzumab
16 deruxtecan received accelerated approval last
17 month. However, as noted in the FDA briefing
18 document, it is not considered available therapy
19 from a regulatory standpoint because it is approved
20 under the provisions of accelerated approval.

21 Now, what about immunotherapy? Checkpoint
22 inhibitor plus platinum-doublet chemotherapy is now

1 the approved first-line treatment and the NCCN
2 recommendation for patients with wild-type EGFR and
3 ALK, including those with HER2 exon 20 insertions.
4 Furthermore, it's worth noting that EGFR and ALK
5 mutant tumors -- HER2 mutant tumors like them
6 typically are poorly responsive to checkpoint
7 inhibitors likely due to their low PD-L1 and TMB
8 levels.

9 This is illustrated by the data from the
10 three largest cohorts reported for checkpoint
11 inhibitor monotherapy for HER2 mutant tumors. In
12 all three cohorts, checkpoint inhibitors provide a
13 very short PFS, ranging from 1.9 to 3 months, and
14 objective response rates from 7 to 8 percent when
15 given as monotherapy. For patients who didn't
16 receive checkpoint inhibitors in the first-line
17 setting, this table shows the efficacy of the
18 overall population and the HER2 mutant population,
19 where lower response rate and median PFS were
20 observed.

21 To summarize, docetaxel, and not checkpoint
22 inhibitors, is the relevant comparator for

1 second-line therapy both because checkpoint
2 inhibitors are used as first-line treatment now
3 with platinum doublets, and because they are not
4 effective as second-line monotherapy in this
5 population.

6 I mentioned before that in addition to oral
7 TKIs, the other targeted approach our HER2 antibody
8 drug conjugates like trastuzumab deruxtecan. These
9 drugs have distinct mechanisms and distinct
10 toxicities that are relevant for selecting the most
11 appropriate therapy. The TKIs are oral, they
12 inhibit the kinase directly, and toxicities are
13 predictable related to inhibiting wild-type EGFR.
14 HER2 ADCs are intravenous in their toxicities like
15 neutropenia are related to their chemotherapy
16 payload.

17 Now, ILD/pneumonitis has been a concern with
18 trastuzumab deruxtecan with a rate of 26 percent
19 ILD/pneumonitis seen in the DESTINY 01 lung study,
20 published in the New England Journal, at the
21 6.4-milligram per kilogram dose. After
22 modification of the dose to 5.4 milligrams per

1 kilogram, and limiting patients with certain
2 pulmonary risk factors such as history of severe
3 COPD, asthma, pulmonary embolism, pleural effusion,
4 pneumonectomy or pneumonitis, a rate of 12 percent
5 was observed in the package insert, but it
6 highlights that patients with certain pulmonary
7 risk factors, common in lung cancer patients, may
8 not be suitable for the drug. Resistance occurs
9 through distinct mechanisms, which likely explains
10 why poziotinib appears to retain its activity after
11 HER2 ADCs.

12 The benefits of having mechanistically
13 distinct drugs such as these recently has been seen
14 with the approval of amivantamab, a bispecific
15 antibody for EGFR exon 20 insertions, as well as
16 mobocertinib, an oral TKI for the same population
17 that was recently approved with an objective
18 response rate of 28 percent.

19 To summarize, what is the current treatment
20 landscape? First-line therapy typically consists
21 of platinum doublets with or without checkpoint
22 inhibitors, and as per NCCN recommendations, or

1 HER2 ADC, such as trastuzumab emtansine, there's an
2 option, but some patients may not be suitable due
3 to pulmonary risk factors. For these patients, the
4 standard option would be a docetaxel regimen with
5 the low response rates in chemotherapy associated
6 toxicities.

7 Given these available options, as a
8 clinician who treats lung cancer patients for a
9 living, I would consider an objective response rate
10 of 15 percent and a median PFS of greater than
11 4 months to be clinically meaningful in the second-
12 or third-line setting for these patients, given the
13 available options. With this in mind, you can see
14 that there is an important unmet need for more
15 effective second-line therapies after platinum
16 doublets, particularly for patients who are not
17 suitable for trastuzumab deruxtecan or who prefer
18 an oral regimen. There's also a need for a
19 third-line option after a HER2 ADC for a docetaxel
20 regimen.

21 As you'll hear from Dr. Bhat, poziotinib is
22 an effective oral treatment option with a favorable

1 benefit-risk profile that addresses these unmet
2 needs. I will now turn the presentation over to
3 Dr. Bhat.

4 **Applicant Presentation - Gajanan Bhat**

5 DR. BHAT: Thank you, Dr. Heymach, and good
6 morning. I'm Gajanan Bhat, senior vice president
7 of clinical science at Spectrum. I'm pleased to
8 review the efficacy data that demonstrates the
9 clinically meaningful benefit of poziotinib.

10 Data from Study 202 provide the primary
11 efficacy to support poziotinib in the proposed
12 indication. I'll focus on the pivotal Cohort 2
13 first, and then supportive evidence from Cohort 5.
14 Additionally, I'll share supportive data from an
15 investigator initiated trial at MD Anderson Cancer
16 Center, which also investigated poziotinib in the
17 refractory setting.

18 Cohort 2 was an independent study with a
19 prespecified primary endpoint and patient
20 population. Patients were required to have NSCLC
21 harboring HER2 exon 20 insertion mutations and be
22 previously treated for locally advanced or

1 metastatic NSCLC with at least one systemic
2 therapy. Patients were required to have at least
3 one target lesion per local investigator using the
4 RECIST 1.1 criteria. All patients received
5 poziotinib 16 milligram once daily for up to
6 24 months. The dose could be reduced in
7 2-milligram increments if necessary in the presence
8 of toxicity.

9 Now let me talk about the study endpoints.
10 The primary efficacy endpoint was the objective
11 response rate defined as the proportion of patients
12 with a confirmed complete response or partial
13 response. Response assessment was based on the
14 central radiographic review by an independent
15 review committee.

16 Dr. Heymach has just summarized the
17 literature for efficacy for the currently available
18 therapy in second-line NSCLC HER2 mutations. Based
19 on the literature and for the FDA discussion, and
20 observed ORR of 30 percent, with 17 percent at the
21 lower bound for 95 percent confidence interval, was
22 considered to represent clinically meaningful

1 efficacy in this study. The ORR was evaluated in
2 the as-treated population. Secondary endpoints
3 included disease control rate, duration of
4 response, and PFS.

5 Next, let's look at the patient disposition.
6 A total of 90 patients were treated, and one
7 patient was ongoing at the time of the November NDA
8 120-day safety data update. The primary reason for
9 discontinuations was disease progression reported
10 in 58 percent of the patients. Demographics and
11 other baseline characteristics were representative
12 of the published literature for this patient
13 population. The mean age was 60 years old, and
14 two-thirds of the patients were younger than
15 65 years. The majority of the patients were
16 female, white, and non-smokers, with an ECOG status
17 of 1.

18 Next, let's look at the prior therapy data.
19 Patients in this study were heavily pretreated with
20 a median of two prior lines of therapy: 39 percent
21 of patients received at least three prior lines of
22 systemic therapy at study entry; 68 percent had

1 received prior immune checkpoint inhibitors
2 therapy; and 28 percent had received at least prior
3 HER2 targeted therapy, including trastuzumab and
4 T-DM1.

5 I will now present the summary of efficacy.
6 Cohort 2 met the prespecified primary endpoint of
7 ORR in the as-treated population. Based on the
8 independent central imaging review using RECIST 1.1
9 criteria, the ORR was 27.8 percent, the lower bound
10 of the 95 percent confidence interval was
11 18.9 percent, and that exceeded the prespecified
12 criteria of 17 percent. This ORR demonstrates
13 clinically meaningful efficacy compared to the
14 reported literature of available therapies. The
15 disease control rate was 70 percent in this study.

16 In this slide, a waterfall plot shows the
17 tumor reduction due to poziotinib in Cohort 2.
18 Poziotinib demonstrated anti-tumor activity with
19 74 percent experiencing tumor reduction during the
20 treatment. This Kaplan-Meier plot shows the
21 duration of response in the 25 responders. The
22 median DOR from the initial response to disease

1 progression or death was 5.1 months. The duration
2 of response at 6 months was 24 percent. The
3 Kaplan-Meier plot in this slide shows the PFS. The
4 median PFS was 5.5 months and the PFS at 6 months
5 was 38 percent.

6 Here is a forest plot presenting ORR in
7 subgroups. The vertical line in the center denotes
8 the overall study ORR. Across patient subgroups of
9 demographics, baseline characteristics, and other
10 subgroups, we observed mostly similar efficacy.
11 Now let's look at a few of these in more detail.

12 Poziotinib shows activity in patient
13 subgroups regardless of prior lines of therapy.
14 Although the study was not a statistically powerful
15 stratified subgroup analysis, it's noteworthy that
16 for patients who had received three or more lines
17 of prior therapy, the ORR was higher at 37 percent
18 and the median DOR and PFS was similar to that of
19 the overall study.

20 Now, this slide shows the summary of
21 efficacy by types of prior therapy. ORR scored
22 generally similar between subgroups regardless of

1 types of prior therapy, including patients
2 previously treated with checkpoint inhibitor or
3 HER2 targeted therapy, except for TKIs where the
4 ORR was 50 percent. Efficacy analysis was also
5 performed for the 14 patients with stable brain
6 lesions that were identified at baseline. In this
7 subgroup, the ORR was 28.6 percent and the median
8 DOR and PFS were 5.1 in 7.4 months, respectively,
9 suggesting poziotinib activity in the brain.

10 Here we have the quality-of-life data from
11 Cohort 2 showing a mean change from baseline in
12 symptom scores. Note that in this analysis, the
13 decreasing mean symptoms score reflects improvement
14 in symptoms. Lung cancer related symptoms like
15 cough, pain, and dyspnea all showed a trend towards
16 improvement over time, while diarrhea wasn't
17 initially but stabilized over time.

18 Let me move to the first of two supportive
19 efficacy studies, which is Cohort 5. Cohort 5
20 includes 95 patients previously treated with HER2
21 exon 20 mutations across dosing schedules. I will
22 now focus on the efficacy data for the

1 10 previously treated HER2 exon 20 patients who
2 received 16-milligram QD.

3 This slide shows the Cohort 2 on the left as
4 a reference, and Cohort 5 results are shown on the
5 right. In Cohort 5, the ORR was 40 percent, median
6 DOR was 6.5 months, and median PFS was 7.3 months.
7 Although the number of patients is small, results
8 of ORR, DOR, and PFS in 16-milligram QD is
9 supportive of the primary efficacy from Cohort 2.

10 Next, I'll review the second supportive
11 efficacy study. This was a prospective
12 investigator-large study conducted at MD Anderson
13 in 27 patients previously treated with a
14 platinum-based therapy. This waterfall plot shows
15 the best change from baseline in tumor reduction in
16 30 patients out of which 27 were in second line. A
17 majority of patients showed tumor reduction
18 consistent with the results from Cohorts 2 and 5.

19 Here, I am showing the overall survival data
20 from Cohort 2 in the MD Anderson study. Cohort 2
21 was not designed for the OS follow-up, and there
22 was high censoring since patients were not followed

1 once disease progressed. However, the median OS
2 ranged from 15 to 17 months, which compares
3 favorably to the historical standard in platinum
4 refractory treated with docetaxel that range
5 between 6 to 10 months.

6 In addition to the studies I just reviewed,
7 Spectrum has also conducted a phase 2 study of
8 poziotinib in treatment-naive patients with NSCLC
9 HER2 exon 20 mutations. The primary endpoint of
10 ORR in Cohort 4 provides additional poziotinib
11 efficacy as first-line treatment in patients with
12 HER2 exon 20 mutations. The ORR in the as-treated
13 population by independent central review was
14 45 percent treated with 16-milligram QD. Median
15 duration of response was 5.7 months and median PFS
16 was five.6 months, and 74 percent patients achieved
17 disease control rate.

18 Next, let's look at the waterfall plot. As
19 you can see here on this slide, there was again
20 tumor reduction in the majority of patients. In
21 summary, in pivotal Study 202, Cohort 2, poziotinib
22 with 16-milligram QD dose met the prespecified

1 primary endpoint and demonstrated clinically
2 meaningful efficacy in heavily pretreated patients
3 of NSCLC HER2 exon 20 insertion mutations. The
4 efficacy was seen across all subgroups.

5 These results were further supported by
6 Cohort 5, where poziotinib demonstrated consistent
7 response observed in 16-milligram QD dosing arm.
8 Further support comes from the MD Anderson Cancer
9 Center study, where poziotinib demonstrated
10 anti-tumor activity in refractory patients,
11 supporting the finding of pivotal study in this
12 heavily pretreated population. In Cohort 4,
13 first-line patients who received 16-milligram QD
14 poziotinib showed a high response rate of
15 45 percent, meeting the prespecified primary
16 endpoint. In conclusion, poziotinib showed
17 consistent and reproducible efficacy in the
18 proposed patient population.

19 Thank you very much. Now I'll turn the
20 presentation over to Dr. Lebel.

21 **Applicant Presentation - Francois Lebel**

22 DR. LEBEL: Thank you.

1 Poziotinib is a second-generation TKI, and
2 like other drugs in this class has toxicities
3 related to wild-type EGFR receptor, and as a
4 result, on-target adverse events are expected.
5 Although these AEs are typical of this class and
6 managed by clinicians every day, one should not
7 minimize the burden on patients. The proposed
8 labeling will allow clinicians to inform and guide
9 their patients on what to expect and what measures
10 can be taken to mitigate adverse events, allowing
11 patients to derive benefit from this drug. Let's
12 review the data.

13 We studied poziotinib in more than
14 1336 patients in our clinical program. Our primary
15 safety data supporting the proposed indication was
16 Group 1 from our briefing document, which included
17 482 patients treated with poziotinib 16-milligram
18 QD or 8 BID, regardless of EGFR, or HER2 receptor,
19 or line of therapy. I should point out that in the
20 FDA briefing book, the safety population is
21 restricted to patients treated with 16-milligram
22 QD, a group of 368 patients. In spite of this

1 difference, the safety results are similar.

2 Today I will present safety data from
3 Group 1, as well as Cohort 2, which is the basis of
4 our NDA. Cohort 2 patients were dosed with
5 16-milligram QD, and therefore are included in
6 Group 1. This table shows poziotinib exposures
7 based on dosing data recorded in patient diaries.
8 The duration of treatment showed a large range with
9 a median of 113 days. The relative dosed intensity
10 was 72 percent of the planned dose to be received.
11 Any day without a reported dosing level, or with a
12 missing dose diary, entry was counted as a dose
13 interruption.

14 Median time to first dose reduction was
15 36 days and first dose interruption was 16 days.
16 The most commonly reported adverse events were
17 generally on target in our typical class effect of
18 second-generation TKI. The most frequent AEs
19 included diarrhea, rash, stomatitis, and
20 paronychia.

21 Adverse events were predominantly associated
22 with either skin and subcutaneous tissue or GI

1 disorders. Similarly, grade 3 events were on
2 target, with rash, diarrhea, and stomatitis
3 occurring most often. Grade 4 adverse events were
4 uncommon. Generally, events were manageable by
5 following study protocol, prophylactic
6 recommendation and management plan or site-specific
7 institutional protocols.

8 Now turning to serious adverse events, as
9 expected, serious adverse events of dyspnea and
10 pneumonia were observed most often in these
11 advanced lung cancer patients. Importantly, SAEs
12 for rash and diarrhea were relatively low,
13 occurring in 3 percent of patients or less. There
14 were no serious events of pneumonitis or ILD in
15 Cohort 2. Individual adverse events leading to
16 treatment discontinuation occurred at low rates.
17 Rash was the most common cause in 4 percent or less
18 of patients. Treatment-related AEs leading to
19 permanent discontinuation was 12 percent.

20 Pneumonitis, or ILD, of any grade was seen
21 at a frequency of 1.1 percent in Cohort 2 and
22 3.3 percent in Group 1. There were 16 patients in

1 Group 1 who experienced an event of pneumonitis, or
2 ILD, and most events were a set as related to
3 poziotinib. There were 4 fatal events on
4 pneumonitis at a rate of 0.8 percent in 3- and
5 16-milligram QD and one at 8-milligram BID.
6 Importantly, there were no fatal ILD cases in
7 Cohort 2.

8 We have proposed language in the warnings
9 and precautions section of the label to address
10 common drug-related toxicity. Based on event
11 severity, we are recommending to whittle dose,
12 reduce dose, or permanently discontinue. A
13 detailed plan is outlined in the briefing document.
14 Off-target adverse events were uncommon. There
15 were no clinically significant abnormal ECG in
16 Cohort 2, and no patient had QTc prolongation.
17 There was no clinically meaningful change in
18 cardiac parameters.

19 In summary, the safety profile of poziotinib
20 is similar to second-generation EGFR TKI. The most
21 common adverse events were on target, and included
22 rash, diarrhea, and stomatitis. Most AEs were

1 reversible following study protocol and recommended
2 measures. Importantly, pneumonitis/ILD, a
3 potentially life-threatening adverse event, was
4 rarely observed in the total data set; of note, our
5 previously treated patients and population with
6 HER2 exon 20 insertion with only one patient with
7 grade 1 pneumonitis in Cohort 2.

8 Now let me briefly review the dosing
9 rationale for 60-milligram QD. The FDA briefing
10 document mentioned that various doses needed to be
11 adequately explored. However, we have conducted
12 seven studies with 404 patients, concluding that
13 16-milligram QD is the appropriate starting dose.
14 Preclinical allometric scaling in mice projected
15 15-milligram QD as the safe and effective dose in
16 humans.

17 We then explored continuous and intermittent
18 dosing, ranging from 0.5 to 32 milligrams per day.
19 Our phase 1 study determined the maximum tolerated
20 dose of poziotinib to be 18 milligrams continuous
21 daily or 24-milligram QD 2 weeks/1 week off dosing.
22 As a result, 16-milligram QD was selected for an

1 investigator-led study at MD Anderson and for our
2 pivotal trial. In addition, we conducted the
3 randomized dose-ranging study in Cohort 5 that
4 confirmed 16-milligram QD as the appropriate
5 starting dose. Recently, we have communicated to
6 the agency our willingness to consider conducting
7 additional dose optimization post-approval.

8 When we look at efficacy and safety across
9 dose in Cohort 5 for HER2 exon 20 patients only, we
10 can see that the 16-milligram QD arm has the
11 highest proportion of responses compared to the
12 other dosing arms. We also investigated
13 10-milligram QD and 6-milligram BID dose, however,
14 they were deemed to have lower performance.

15 Now let's examine the tolerance of various
16 dosing arms. Grade 3 or higher related AEs were
17 higher in the 16-milligram QD arm, especially the
18 on-target side effects of rash, in line with the
19 higher efficacy of 16-milligram QD. The proportion
20 of patients who needed to reduce or enter other
21 dosing was not much different across dose levels.
22 Overall, the efficacy and safety data support

1 16-milligram once daily continuous dosing for
2 poziotinib. Following extensive dose exploration
3 in this rare patient population, 16-milligram QD is
4 a safe and effective starting dose to address an
5 urgent medical need in patients who need
6 therapeutic options.

7 Now let me turn to our confirmatory study.
8 The study has been initiated to confirm the
9 clinical benefits seen in Cohort 2 as required for
10 an accelerated approval. The study is designed to
11 enroll 268 patients with previously treated
12 non-small cell lung cancer harboring HER2 exon 20
13 mutations in up to 150 sites globally. Patients
14 are being randomized 2 to 1 to 8 milligrams of
15 poziotinib orally, administered twice daily, versus
16 docetaxel. Based on data available at the time of
17 discussion with the agency and on the evolving PFS
18 data from Cohort 5, 8-milligram BID was chosen as
19 the poziotinib starting dose. The primary endpoint
20 is PFS, and the study includes a futility analysis
21 planned for 2024.

22 The study was originally designed based on

1 the results from Cohort 2 and the stage 1 of
2 Cohort 5 dose-ranging study. Stage 1 analysis of
3 Cohort 5 showed 8-milligram BID had a similar
4 efficacy and possibly better tolerance. The dose
5 for the PMR was determined after discussion with
6 the agency. Given the urgency to start the
7 confirmatory trial, the agency and Spectrum decided
8 to initiate the trial at 8-milligram BID;
9 16-milligram QD remains safe and effective, but the
10 8-milligram BID shows a trend to slightly better
11 tolerability. We remain committed to working with
12 the FDA to amend the protocol if necessary.

13 Here is the current snapshot of the study
14 status. Spectrum has targeted 448 sites across
15 29 countries. A total of 97 sites have been
16 qualified as of August '22. Based on the
17 enrollment projection, we expect the futility
18 analysis to be completed in 2024 and final analysis
19 in 2026.

20 In our presentation today, we've addressed
21 the key points raised by the FDA and show that the
22 benefit of poziotinib outweighs the risk in a

1 patient population who currently has no approved
2 oral treatment options. Thank you very much for
3 your attention, and let me turn the podium to
4 Dr. Socinski.

5 Mark?

6 **Applicant Presentation - Mark Socinski**

7 DR. SOCINSKI: Thank you, Dr. Lebel.

8 I'm Dr. Mark Socinski, and I'm a thoracic
9 medical oncologist and the executive medical
10 director of the AdventHealth Cancer Institute. I
11 have 30 years of experience treating patients with
12 lung cancer and was an investigator in Study 202.

13 Patients with lung cancer harboring HER2
14 exon 20 insertion mutations are in desperate need
15 for additional treatment options, and poziotinib
16 would be a welcomed addition for these patients.
17 Treating these patients has become increasingly
18 complex, and I am here to share my clinical
19 experience.

20 Let me begin by sharing an example of the
21 anti-tumor activity of poziotinib in a patient from
22 Cohort 2. As you can see on the screening CT scan,

1 the patient had a liver metastases, as well as
2 abdominal wall metastases. On the bottom row,
3 after receiving poziotinib, there is a clear and
4 significant reduction in measurable tumor with
5 durability of the response lasting 9-plus months or
6 11 cycles. There isn't a patient in my clinic that
7 wouldn't welcome access to a drug that would
8 potentially induce a similar response.

9 Lung cancer consists of multiple molecular
10 subgroups, some of which are harder to drug than
11 others. Patients have benefited from having
12 multiple targeted options available for these
13 subgroups, especially if they are mechanistically
14 distinct. As an example, as Dr. Heymach pointed
15 out, amivantamab, a bispecific antibody, was
16 approved for EGFR exon 20 insertion mutations.
17 Shortly thereafter, mobocertinib, an oral TKI, was
18 also approved with a 28 percent overall response
19 rate in the pivotal study similar to poziotinib.

20 Likewise, for KRAS G12C mutations, in the
21 randomized confirmatory study presented last week
22 at ESMO, sotorasib demonstrated a 28 percent

1 overall response rate and a median progression-free
2 survival of 5.6 months. Keep in mind poziotinib
3 had an overall response rate of 28 percent in the
4 heavily pretreated group and 45 percent in the
5 treatment-naive group.

6 These are different molecular subgroups, so
7 results shouldn't be compared to one another, but
8 it illustrates that for hard-to-treat subgroups
9 such as these, the response rates are not only
10 higher than docetaxel, but also demonstrate
11 meaningful clinical benefit.

12 In terms of risk, EGFR TKI adverse events
13 have been routinely managed for well over a decade
14 or so as part of the day-to-day supportive care
15 carried out by our oncology nurses and oncologists
16 in practice. We can see that poziotinib's safety
17 profile, shown in blue, is in line with a number of
18 these TKI agents, including the recently approved
19 mobocertinib and dacomitinib.

20 Based on the data here and my clinical
21 experience with this drug, the on-target adverse
22 events are both predictable and manageable.

1 Compared to the other options of chemotherapy in
2 HER2 ADC treatments, the lack of myelosuppression
3 and its consequences, absence of cardiac toxicity,
4 and a very low rate of ILD provide an option that
5 may make it a treatment of choice in selected
6 patients.

7 As I reflect on what I've heard today, these
8 data are particularly important to me. Poziotinib
9 showed a meaningful advantage over available
10 therapies in the second and beyond lines of
11 treatment. Let me remind you that there are no
12 currently approved drugs for third-line patients
13 who have exhausted all options, including
14 docetaxel. Note that in this heavily pretreated
15 setting, poziotinib showed an overall response rate
16 of 37 percent with a median progression-free
17 survival of 5.5 months. I believe that for these
18 heavily pretreated patients with no other approved
19 options, the benefit of pozi is clear. Let me
20 illustrate this in the context of the treatment
21 landscapes.

22 Earlier in the presentation, Dr. Heymach

1 shared the current treatment landscape, an unmet
2 need for patients diagnosed with advanced
3 HER2-positive exon 20 insertion disease. Patients
4 need more options and more time. With the data
5 that you've seen today, poziotinib would be an
6 option at many points in the journey of the
7 patient. This could be an option after HER2 ADC
8 treatment, or as a second-line option in patients
9 who prefer oral treatment, or who have pulmonary
10 risk factors that make them not suitable for
11 trastuzumab deruxtecan; and it even has activity
12 after docetaxel, as demonstrated. In all of these
13 cases, the approval of poziotinib would give my
14 patients more options, and potentially thus more
15 time.

16 In conclusion, poziotinib represents an
17 important advance for patients with HER2 exon 20
18 insertion mutations. The data you've seen today
19 demonstrated poziotinib has clear efficacy in this
20 molecularly defined population of patients with an
21 urgent unmet need. It has manageable safety
22 profiles similar to a number of other currently

1 approved FDA EGFR TKIs. Lastly, poziotinib would
2 fit into a number of different treatment scenarios
3 we face in the lung cancer clinic every week,
4 either immediately after first-line chemo or after
5 the other subsequent lines of therapy. I would
6 welcome poziotinib as an option for patients with
7 this disease. Thank you.

8 DR. GARCIA: Thank you.

9 We will now proceed with the FDA
10 presentations from Dr. Justin Malinou and
11 Dr. Jeanne Fourie Zirkelbach.

12 **FDA Presentation - Justin Malinou**

13 DR. MALINO: Good morning. I am Justin
14 Malinou, medical oncologist at the FDA. I would
15 like to acknowledge all the members of the
16 multidisciplinary review team.

17 The applicant is seeking accelerated
18 approval of poziotinib for the treatment of
19 patients with previously treated advanced non-small
20 cell lung cancer harboring HER2 exon 20 insertion
21 mutations. As you have heard, there are several
22 major review issues for this application. The

1 efficacy, as demonstrated by the low overall
2 response rate and poor duration of response, does
3 not represent an advantage to patients over current
4 therapies. The safety profile for poziotinib
5 demonstrates a high level of toxicity, requiring a
6 large number of dose reductions and drug
7 interruptions.

8 You will hear from clinical pharmacology
9 that the applicant did not adequately justify their
10 dose selection and prematurely moved forward with
11 the 16-milligram daily dose. The confirmatory
12 trial has not yet enrolled any patients, which will
13 significantly delay confirmation or refutation of
14 the drug's benefit.

15 I will describe the FDA's risk-benefit
16 assessment based on the major review issue. The
17 discussion and voting question for the committee
18 will follow. The first key review issue is the
19 limited response rate with poor durability observed
20 in the primary efficacy population. Patients with
21 HER2 mutated non-small cell lung cancer who
22 progressed after first-line therapy may be treated

1 with either chemotherapy or docetaxel plus
2 ramucirumab, which offers a response rate of
3 23 percent. Single-agent immunotherapy yields
4 response rates of up to 20 percent, with
5 substantial duration of response of at least
6 16 months. This is an option available to patients
7 who did not receive immunotherapy in the front-line
8 setting.

9 Trastuzumab deruxtecan was recently granted
10 accelerated approval specifically for patients with
11 HER2 mutated non-small cell lung cancer. The
12 approval was based on single-arm data with a
13 response rate of 58 percent and a median duration
14 of response of over 8 months.

15 The demographic information for ZENITH20 is
16 shown. We would like to highlight that roughly
17 one-third of patients were not treated with
18 immunotherapy prior to enrollment, therefore,
19 immunotherapy is considered an available treatment
20 for these patients, as discussed on the previous
21 slide.

22 As you have heard, poziotinib yielded an

1 overall response rate of 28 percent with a lower
2 limit of the confidence interval at 19 percent.
3 For patients who received both platinum
4 chemotherapy and immunotherapy, the overall
5 response rate is unchanged. The median duration of
6 response was 5.1 month, and of the patients that
7 responded, only 24 percent had a durable response
8 lasting 6 months or longer.

9 Poziotinib's duration of response is
10 considerably lower than all other recent targeted
11 therapy approvals. We do not consider these
12 efficacy results demonstrate a meaningful benefit
13 over currently marketed therapies. To put this in
14 context of current therapies, this slide shows that
15 poziotinib yields a similar response rate to
16 docetaxel plus ramucirumab. The durability of
17 response to poziotinib is similar to that of
18 single-agent chemotherapy.

19 For the one-third of patients enrolled in
20 the primary efficacy population who did not receive
21 immunotherapy, single-agent pembrolizumab or
22 nivolumab offers a modest response rate and up to

1 17 months of durability. Thus, the FDA asserts
2 that the efficacy of poziotinib does not represent
3 a clinically meaningful benefit over current
4 therapies.

5 Patients experienced high rates of toxicity
6 with poziotinib at the 16-milligram daily dose.
7 The applicant states that the safety profile of
8 poziotinib is similar to other drugs in class,
9 however, in our assessment, poziotinib is more
10 toxic than other tyrosine kinase inhibitors for
11 lung cancer, especially at the 16-milligram dose.
12 Eight of 10 patients experienced grade 3 to 4
13 adverse events. Similarly, over 80 percent of
14 patients required a drug interruption, and almost
15 60 percent of patients needed a dose reduction.
16 You will hear from Dr. Fourie Zirkelbach that these
17 frequent treatment modifications may impact the
18 overall efficacy of poziotinib.

19 The applicant states that poziotinib may
20 provide an alternative for patients who cannot
21 tolerate antibody drug conjugates such as
22 trastuzumab deruxtecan, in part, due to the known

1 risk of pneumonitis associated with these
2 therapies. However, there were serious pulmonary
3 events, including severe cases of dyspnea,
4 pneumonia, and 8 cases of pneumonitis in patients
5 treated with poziotinib. Patients also experienced
6 serious events of diarrhea and acute kidney injury.

7 In the overall 16-milligram daily
8 population, 26 patients, or 7 percent, had fatal
9 adverse events. Included in the 7 percent are
10 3 patients who died from pneumonitis. There were
11 other fatal respiratory events, including
12 respiratory failure and pneumonia. Given the rate
13 of severity of pneumonitis and other pulmonary
14 toxicity, poziotinib's safety profile does not
15 represent an advantage over other treatment
16 regimens.

17 Our FDA analyses show that the rate of fatal
18 adverse events seen in patients who received
19 poziotinib are 3 to 5 times higher than those seen
20 in recently approved targeted therapies. Patients
21 treated with poziotinib experienced high rates of
22 rash, diarrhea, and mucositis. Almost 50 percent

1 of patients with rash experienced severe symptoms
2 and required drug interruption. A quarter of
3 patients experienced grade 3 or 4 diarrhea. Almost
4 one-third of patients with diarrhea required drug
5 interruption, and 1 out of 5 patients with diarrhea
6 needed a dose reduction.

7 While it is known that TKIs cause both rash
8 and diarrhea, in the next few slides I will show
9 you how poziotinib compared to other recently
10 approved drugs in class. For example, the
11 incidence of rash in patients treated with
12 poziotinib was 92 percent, substantially higher
13 than most other approved therapies. Similarly,
14 rates of diarrhea with poziotinib are also high
15 relative to other targeted therapies for non-small
16 cell lung cancer. I would like to point out that
17 the applicant has brought up mobocertinib a few
18 times, though this drug, although with similar ORR,
19 had a substantially longer DOR and ongoing
20 confirmatory trial, and did not have the dosing
21 issue seen with poziotinib.

22 In multiple analyses of cancer and

1 therapy-associated symptoms, diarrhea has been
2 found to be highly associated with decreased
3 healthcare-related quality of life and with social
4 functioning. It is important to note that
5 according to the CTCAE grading scale, grade 2
6 diarrhea is defined as an increase of up to
7 6 stools per day over baseline, and grade 3
8 indicates hospitalization.

9 Patients with cancer often cite low-grade
10 chronic diarrhea as having a negative impact on
11 their quality of life. In ZENITH20, 82 percent of
12 patients experienced grade 1 to 2 events of
13 diarrhea, with one quarter of patients requiring
14 hospitalization due to grade 3 to 4 diarrhea.
15 Almost one-third of patients interrupted treatment,
16 despite treatment interruption not being
17 recommended in the management algorithm until
18 grade 3 diarrhea occurred. This may indicate that
19 lower grade diarrhea may have been considered
20 intolerable by the patients, resulting in therapy
21 modification.

22 Patient-reported outcomes, which may have

1 assessed patients' perception of the tolerability
2 of the adverse events observed, were inadequately
3 collected in ZENITH20. The applicant used fixed
4 questionnaires to collect patient-reported
5 quality-of-life and lung cancer symptoms in a
6 sparse schedule, as listed on this slide. Because
7 of the infrequent and incomplete collection of
8 patient-reported symptoms, tolerability of
9 poziotinib is not clear. Specifically, the
10 severity, duration, and trajectory of notable side
11 effects, such as diarrhea, stomatitis, and rash,
12 were not adequately captured.

13 In terms of efficacy, no meaningful
14 conclusions can be made as there was no
15 prespecified PRO hypothesis and the majority of
16 patients did not provide a PRO response after
17 cycle 3 due to attrition. Overall, the applicant
18 did not assess patient-reported outcomes in a
19 frequent and comprehensive way to better
20 characterize the tolerability of poziotinib.

21 The third key issue is the inadequate dosage
22 optimization throughout the poziotinib development

1 program. You will now hear from Dr. Jeanne Fourie
2 Zirkelbach, FDA clinical pharmacology team leader,
3 for further discussion of this topic.

4 **FDA Presentation - Jeanne Fourie Zirkelbach**

5 DR. ZIRKELBACH: Good morning. I am
6 Dr. Jeanne Fourie Zirkelbach, a clinical
7 pharmacologist at FDA. FDA's concerns regarding
8 dose optimization date back to 2017 when the trial
9 was initiated. We recommended studying a lower
10 daily dose within the efficacious range of 12 to
11 16 milligrams daily due to the lack of information
12 differentiating the 16-milligrams once daily dosage
13 from alternative dosages.

14 When FDA reviewed the top-line data in 2020
15 and 2021, we reiterated our concerns regarding
16 efficacy, safety, dose selection, and the delay of
17 confirmation of benefit. In an effort to
18 accelerate the confirmatory trial, the applicant
19 moves forward with a different dosage of
20 8 milligrams twice daily for their randomized
21 trial, however, FDA continued to reiterate the need
22 for additional data to support the proposed dosage.

1 Initiating the trial absent these data was at the
2 applicant's risk.

3 Clinical data at the proposed dosage show
4 that poziotinib has marginal activity with a high
5 rate of toxicity. Limited data available are
6 available for other dosages, and it is uncertain if
7 alternative dosages can maintain effectiveness and
8 improve tolerability, therefore, additional dosage
9 optimization is still warranted. As of today,
10 FDA's clinical pharmacology team does not have
11 sufficient information to determine the optimal
12 poziotinib dosage.

13 In a preliminary study in patients with
14 advanced tumors, dose selection was based on a
15 maximum tolerated dose approach. Dose escalation
16 occurred at doses of 12, 16, 18, and 24 milligrams
17 administered once daily. Sixteen milligrams once
18 daily, as shown in the highlighted box, was
19 selected as the applicant's recommended dosage.
20 Because the patient cohorts were so small -- for
21 example, only 3 patients were enrolled in the
22 12-milligram cohort -- there were very limited

1 safety and preliminary activity data available.
2 These insufficient data were not adequate to
3 identify differences in safety or activity within
4 this dosage range. Thus, the available clinical
5 data did not support selection of 16 milligrams
6 once daily for the registrational trial.

7 The applicant's recommended dosage of
8 16 milligrams once daily was investigated in
9 Cohort 2 to support the proposed indication. Based
10 on FDA feedback, alternative dosages, including
11 once-daily and twice-daily regimens, were later
12 explored in Cohort 5. The evaluation of safety and
13 effectiveness for these alternatives dosages is
14 still ongoing.

15 In the next few slides, I will summarize the
16 available data from these alternative dosages,
17 along with the exposure-response analyses for
18 safety and effectiveness. You are aware that the
19 ORR of poziotinib, at 16 milligrams once daily, in
20 the proposed patient population was 28 percent. In
21 looking at the ORRs and the confidence intervals
22 for the alternative dosages being investigated, the

1 response rates again appear similar to that of
2 16 milligrams once daily. The confidence intervals
3 are wide and overlapping, presumably due to small
4 patient numbers. Though the applicant did provide
5 additional data at alternative dosages as
6 requested, the patient numbers remain insufficient
7 to make a determination regarding the optimal
8 dosage.

9 Preliminary exposure-response analyses do
10 not support a dosage of 16 milligrams once daily
11 compared to the alternative dosages.

12 Exposure-response relationships for efficacy are
13 inconclusive due to the limited data at dosages
14 other than 16 milligrams once daily. However, when
15 we look at safety, we see that higher average
16 concentrations are associated with a higher risk of
17 grade 3 or higher treatment-emergent adverse events
18 and adverse events leading to dose reduction and
19 treatment discontinuation. Thus, while we know
20 that higher dosages do yield greater toxicity, it
21 is not known if alternative dosages will yield
22 comparable efficacy to the 16-milligram daily dose.

1 Given the high rates of interruption and
2 dose reduction at 16 milligrams once daily, the
3 relative dose intensity was only about
4 12 milligrams per day. This means that the average
5 patient only receives 12 milligrams once daily or
6 75 percent of their prescribed dose, largely due to
7 toxicity.

8 The first dose interruption occurred at
9 approximately 29 days following treatment
10 initiation and lasted about 8 days. Given the
11 elimination half-life of poziotinib is
12 approximately 6 hours, no drug would be found in
13 this systemic circulation within 2 days after
14 withholding poziotinib. It is uncertain whether
15 these prolonged interruptions to manage toxicity
16 have an impact on efficacy.

17 Most patients enrolled in Cohort 2 received
18 a reduced dose within the first month, as
19 illustrated by this graph. The dark blue shaded
20 area shows the relatively short treatment duration
21 at the proposed dosage. The black dashed line
22 shown here marks the 6-week point after initiation

1 of poziotinib.

2 As shown by the dark blue shaded area, at
3 this point less than 50 percent of patients
4 remained on 16 milligrams once daily. The black
5 dotted line shown here marks 24 weeks, or 6 months,
6 after initiation of poziotinib. As shown by the
7 lighter blue and orange shaded areas at this point,
8 most patients received dosages of 12 milligrams
9 daily or less. The red shaded area shows the
10 fraction of patients who did not receive poziotinib
11 due to treatment interruption.

12 In FDA's review of the applicant's clinical
13 pharmacology package, we identified significant
14 areas of concern regarding the lack of dosage
15 optimization. Given that the applicant has
16 provided insufficient data over the clinically
17 relevant dose range, we cannot determine if
18 alternative dosages may provide acceptable efficacy
19 and an improved toxicity profile. Therefore, we
20 continue to assert that the applicant failed to
21 adequately justify their proposed dosage of
22 16 milligrams once daily.

1 I will now turn your attention back to
2 Dr. Malinou to complete FDA's presentation.

3 **FDA Presentation - Justin Malinou**

4 DR. MALINOU: The file review issue is a
5 significant delay in confirmation or refutation of
6 clinical benefit. As a reminder, in the
7 applicant's planned confirmatory trial, patients
8 will be randomized to either poziotinib
9 8 milligrams twice daily or single-agent docetaxel,
10 with progression-free survival as the primary
11 endpoint. They are targeting a 2.5-month PFS event
12 benefit, which may not be clinically meaningful.
13 The trial has not enrolled any patients as of this
14 month, and is not slated to read out until 2026 at
15 the earliest. Patients could be exposed to a
16 highly toxic drug with unverified clinical benefit
17 for at least four years.

18 Now let's consider this application within
19 the framework of the FDA's risk-benefit assessment.
20 The FDA considers the totality of evidence when
21 making risk-benefit determinations. We recognize
22 that this is a rare population with limited

1 therapeutic options, however, given the marginal
2 ORR and limited DOR, FDA asserts that poziotinib
3 does not represent a meaningful therapeutic benefit
4 when compared to current therapies.

5 Poziotinib has a high level of toxicity with
6 high rates of grade 3 to 4 AEs, serious AEs, fatal
7 AEs, dose reductions, and drug interruptions. The
8 dose proposed for marketing is not currently
9 optimized. In addition, there are no definitive
10 plans for alternate dose exploration beyond
11 8 milligrams twice daily. The selection of the
12 8-milligram twice daily dose for the confirmatory
13 trial is incongruent with the approval of a
14 16-milligram daily dose.

15 Finally, initiation of the confirmatory
16 trial is significantly delayed and places patients
17 at undue risk. We know that accelerated approval
18 without a confirmatory trial initiated at the time
19 of approval requires several more years to verify
20 or refute clinical benefit than those which already
21 have a confirmatory trial underway. The recent
22 approval of trastuzumab deruxtecan in the same

1 space may make it infeasible to conduct a proposed
2 confirmatory trial, further delaying confirmation
3 or refutation of benefit.

4 I will now present the discussion topic and
5 voting question for the advisory committee. We ask
6 that the committee discuss the overall risk-benefit
7 of poziotinib 16 milligrams daily given the
8 following: slow response rate with poor
9 durability; high rate of toxicity; lack of dosage
10 optimization; and significant delay in confirmation
11 or refutation of benefit with a randomized trial.

12 We ask that the committee vote on the
13 following. Do the current benefits of poziotinib
14 outweigh its risks for the treatment of patients
15 with non-small cell lung cancer with HER2 exon 20
16 insertion mutations? Thank you for your attention.

17 **Clarifying Questions to Presenters**

18 DR. GARCIA: Thank you, Dr. Malinou.

19 We will now take clarifying questions for
20 the presenters, Spectrum Pharmaceuticals, Inc. and
21 the FDA. Please use the raise-hand icon to
22 indicate that you have a question, and remember to

1 clear the icon after you have asked your question.
2 When acknowledged, please remember to state your
3 name for the record before you speak and direct
4 your question to a specific presenter, if you can.
5 If you wish for a specific slide to be displayed,
6 please let us know the slide number, if possible.

7 Finally, it would be helpful to acknowledge
8 the end of your question with a thank you and end
9 of your follow-up question with, "That is all of my
10 questions," so we can move on to the next panel
11 member.

12 Let's just go to the committee. We have
13 heard the FDA presentation, and we have heard the
14 applicant presentation as well, and I would like to
15 open the group to ask the questions to specific
16 people.

17 Let's start with Dr. Lieu.

18 DR. LIEU: Hi, everybody. This is Chris
19 Lieu. This question is for the FDA. I just want
20 to clarify the status of trastuzumab deruxtecan for
21 this. It's been brought up in the presentations,
22 and we've seen the overall response rate. But we

1 need to know if this is considered to be an
2 available therapy or not, just in terms of how we
3 view the current data.

4 DR. SINGH: Hi. This is Harpreet Singh,
5 director. I will take that question.

6 Yes, I agree that there is a lot of
7 discussion around trastuzumab deruxtecan, and
8 before I answer your question directly, there is a
9 point of clarification that a lot of the data that
10 the sponsor cites in terms of rates of ILD and
11 pneumonitis, they're actually citing, particularly
12 in Dr. Heymach's presentation, toxicity data from
13 the higher dose, the 6.4 mg/kg dose.

14 We did not approve that dose. We asked the
15 company, much like we asked Spectrum, to conduct a
16 dose-finding study comparing the 6.4 dose to a
17 lower dose because of the toxicity we were seeing.
18 They did do that study. We did receive the results
19 of that study during the course of our review, and
20 thus we approved a lower dose by 0.4 mg/kg dose,
21 which has substantially less ILD and pneumonitis
22 pulmonary toxicity in general and maintains a

1 50 percent or so -- 50 to 60 percent -- response
2 rate.

3 So I'd like to make that point of
4 clarification because I did feel that that
5 particular toxicity information, conferring the
6 [inaudible - audio gap] -- discussion about
7 availability of therapies. Available is a
8 regulatory issue, and we don't necessarily want the
9 committee to make this too complicated.

10 The point is that HER2 or trastuzumab
11 deruxtecan is clinically available to providers.
12 Yes, it is under accelerated approval. This means
13 that, from a regulatory standpoint, when we are
14 comparing available therapies, we are not looking
15 at that 60 percent response rate as the bar to
16 beat, so to speak. However, I think this has
17 implications in terms of the confirmatory trial,
18 which both Dr. Drezner and Dr. Malinou point out,
19 and how feasible is it -- will it be -- for
20 Spectrum to conduct this confirmatory trial, given
21 that trastuzumab is available to providers with a
22 60 percent response rate.

1 So I think that is really how this comes
2 into play. But then back to even if you are
3 considering it from an FDA regulatory
4 perspective -- which we're not asking you, the
5 committee, to do; that's our role -- we still
6 assert that poziotinib does not represent a
7 meaningful advantage, even if you take trastuzumab
8 off the table.

9 So I hope that that answers your question,
10 but I would like to bring in Dr. Julia Beaver if
11 she'd like to contribute anything to that.

12 DR. BEAVER: Yes. Thanks. Hi. This is
13 Julia Beaver. Exactly as Dr. Singh stated, for the
14 regulatory purpose of available therapy as defined
15 in our guidance, that is a therapy approved under
16 regular or traditional approvals or set standard of
17 care. Those are regulatory distinctions we will
18 consider. We'd like the committee to view this
19 from a clinical standpoint from risk-benefit, and
20 as Dr. Singh mentioned, we want the committee to
21 consider if the availability of HER2 will impact
22 the feasibility to enroll patients on the

1 confirmatory trial.

2 We also note that the sponsor mentioned a
3 number of times potential sequencing of their drug,
4 potentially even after a trastuzumab-based ADC,
5 which is not something that we are aware of, has
6 been studied, so we do not feel that particular
7 indication would be appropriate. Thank you. That
8 is all.

9 DR. LIEU: That answers my question, and
10 thank you so much.

11 DR. GARCIA: Thank you.

12 Dr. Madan, you have a question?

13 DR. MADAN: Yes. Hi. Ravi Madan, NCI. I
14 have a question for the sponsor regarding the unmet
15 need. There are assertions that trastuzumab is
16 perhaps not available for patients with certain
17 pulmonary conditions, and that poziotinib would be
18 an option for those patients.

19 Does the sponsor have any data in those
20 specific populations demonstrating safety and
21 efficacy?

22 DR. LEBEL: Thank you for your question.

1 I'd like Dr. Heymach to address this question.

2 DR. HEYMACH: Yes. With that, I'll also
3 bring up something that Dr. Singh had mentioned
4 earlier. In my presentation, I mentioned the
5 doses, both at the 6.4, originally in the
6 DESTINY 01 study, where 26 percent was seen, and
7 then with the dose reduction to 5.4, and this data
8 has not been presented publicly for us to review,
9 so we're basing it on the package insert.

10 For DESTINY 02, they did two things. They
11 lowered the dose from 6.4 mg/kg to 5.4, but the
12 eligibility also changed. So here from
13 clinicaltrials.gov is the eligibility criteria for
14 poziotinib versus the trastuzumab deruxtecan in
15 this space. You can see poziotinib has a standard
16 grade 2 pneumonitis, or high pneumonitis,
17 exclusion, which is seen in all studies in this
18 space. For trastuzumab deruxtecan, they said not
19 only a history of non-infectious interstitial lung
20 disease requiring steroids or the other things that
21 were suspected -- ILD or pneumonitis that can't be
22 raised out -- but they also said other conditions

1 that put you at pulmonary risk, including pulmonary
2 emboli within 3 months of the study, severe asthma,
3 severe COPD, restrictive lung disease, pleural
4 effusion, et cetera.

5 Now, in our study we know 17 percent of
6 patients had pleural effusion. As an example, we
7 know that COPD and restrictive lung disease are
8 common in this population, and we know that if you
9 take the lung cancer population as a whole,
10 19 percent have some history of pneumonitis in the
11 past, often restricted pneumonitis.

12 So the point here -- and I know this meeting
13 isn't about trastuzumab deruxtecan, but it's to say
14 that what they've done in DESTINY 02 is exclude a
15 substantial number of patients that have pulmonary
16 risk factors that are extremely common for the lung
17 cancer population, including the 17 percent in our
18 study that did have pleural effusion treated with
19 poziotinib.

20 DR. MADAN: So just to clarify, do you have
21 any safety or efficacy data in those 17 percent of
22 patients?

1 DR. HEYMACH: We haven't broken out the
2 17 percent with pleural effusions. We do have data
3 for patients treated with prior HER2-directed
4 therapies. As was mentioned, the response rate was
5 24 percent, median PFS was similar to the overall
6 population, 5 plus months, and specifically in
7 patients that were treated with TDM-1 -- which was
8 the HER2 ADC that was available at the time.
9 Again, trastuzumab deruxtecan wasn't available
10 during the poziotinib study, so there isn't the
11 history of many patients. There were I know at
12 least some that are on the study, but we had
13 6 patients treated with TDM-1. The response rate
14 in that group was 33 percent, and you saw the
15 response rate with prior HER2 TKI. Obviously, the
16 study was powered for these rare subgroups, but the
17 message was that activity was consistently seen
18 regardless of prior immunotherapy, HER2-directed
19 therapy, and so forth.

20 DR. MADAN: Okay. But just to clarify, in
21 the unmet need discussion, the patients with these
22 pulmonary conditions, you don't have data showing

1 safety or efficacy.

2 DR. HEYMACH: Right. One could search for
3 that data, but I'll say that the trastuzumab
4 deruxtecan data hasn't been reported publicly, so
5 we don't know all the different conditions. But
6 if --

7 DR. MADAN: No, I was asking about the
8 poziotinib data.

9 DR. HEYMACH: Right. Yes, but we could pull
10 out the pleural effusion subgroup, for example, to
11 look for efficacy.

12 DR. MADAN: Okay.

13 One other question along the same lines is
14 there's this kind of implication that an oral
15 medication would be more accessible even though
16 it's a novel agent that's under special approval,
17 and it would be more accessible than an IV agent
18 that's administered every 3 weeks, although it
19 still requires access to probably pretty
20 cutting-edge oncology service.

21 Is there any data that actually demonstrates
22 that oral agents are more accessible to patients

1 than an IV agent?

2 DR. LEBEL: Thank you for your question.

3 I'm going to ask Dr. Socinski to address that.

4 DR. SOCINSKI: Thank you. Dr. Socinski.

5 I'm not aware of any specific data with regard to
6 that. I can tell you in my clinical experience in
7 the field of lung cancer, a number of the targeted
8 agents are obviously oral agents, and it's not
9 unusual when you have a situation where you have an
10 oral and an IV after patients have received
11 first-line IV therapy, that they kind of want to
12 break from the IV experience.

13 So my clinical experience is that patients
14 often very commonly do favor the oral route of
15 administration because of the convenience. I view
16 many of these situations as a plan for sequential
17 therapy. So whether you do one first, the other
18 one, that sort of thing, from a clinical point of
19 view, we have those sorts of discussions, and
20 patients often prefer the oral route; that's more
21 convenient. Thank you.

22 DR. MADAN: I thank the sponsor for taking

1 time to answer my questions. That is all.

2 DR. GARCIA: Thank you.

3 Dr. Waldman, do you have a question?

4 DR. WALDMAN: I do. This is Scott Waldman,
5 Thomas Jefferson University. I have two questions.
6 The first question is for the FDA, and this plays a
7 little bit off of Dr. Lieu's question that was
8 asked before.

9 I understand that trastuzumab deruxtecan
10 doesn't set the bar for response rates and duration
11 of response. I understand that, but can we
12 consider that it's part of the armamentarium that's
13 available to the patients that we're considering
14 here? In other words, I understand it doesn't set
15 the bar, but is it available when we consider
16 what's available and what gaps poziotinib might be
17 filling? Can we consider this agent available to
18 the patients?

19 DR. SINGH: Hi. This is Harpreet Singh,
20 director. I will take that question. I understand
21 that this particular point is causing a bit of
22 consternation, so I will take this, and then I will

1 ask Dr. Richard Pazdur to please come on and maybe
2 clarify any fine points.

3 I just want to make one comment on the last
4 question about oral therapies being available and
5 being the preferred regimen, and I want to make two
6 points about that. Yes, certainly patients may
7 prefer oral options, however, I would say that,
8 first, they would not prefer an oral regimen that
9 is inferior to what is available IV, whether we're
10 talking about a ram [ph], docetaxel, or an IO -- or
11 to get to this point about trastuzumab -- or
12 trastuzumab. Secondly, the sponsor did not
13 adequately collect patient-reported outcomes data
14 as we highlighted, so we know that chronic daily,
15 low-grade -- and with this drug high-grade --
16 toxicities like diarrhea and rash really contribute
17 negatively to quality of life.

18 Back to this question about what is
19 available, yes, you may consider trastuzumab as
20 available to providers. It is available to you,
21 the oncologist, in clinic, every day. And what we
22 are asking ourselves, and you must ask yourself, is

1 if you were presented with a patient with this rare
2 mutation, and you had the option of pulling this
3 ADC off the shelf, which has two other indications
4 that is known to providers, versus enrolling them
5 on this confirmatory trial, which we are telling
6 you the dose may not be optimized; and you know the
7 toxicity; and you know the response rate; and you
8 know that the comparator is single-agent docetaxel,
9 what would you choose? And I think that's
10 something we're asking the committee to consider
11 when we think about the context of what's available
12 to you in the clinic. Let FDA worry about the regs
13 and the bar.

14 So in answer to your question, yes, I do
15 believe you should consider this as available to
16 you as providers because it is.

17 Dr. Pazdur, would you like to add any
18 further comment to this?

19 DR. PAZDUR: Well, let me go through what
20 the guidance actually says, and I was partly
21 responsible for putting this in guidance, is that
22 we would consider, in making a regulatory decision,

1 that available therapy is the approved therapies
2 that we have, and not those under accelerated
3 approval; so that's in FDA guidance.

4 I think the reason why we have brought this
5 up, the issue of this particular drug, here again,
6 is the issue of having a trial that has not been
7 yet initiated, is it conceivable, really, to do
8 this trial? I think that was one of our major
9 points of view.

10 Here again, at the end of the day, if you
11 could see our question that we're asking you to
12 vote on, we're not asking you whether or not the
13 drug should be approved; we're asking you at this
14 point in the context of what is out there, does
15 this represent basically a positive risk-benefit
16 for patients. But in making that regulatory
17 decision, the FDA will not consider, basically, the
18 drug under accelerated approval as available
19 therapy. Okay?

20 DR. WALDMAN: Okay. Again, Scott Waldman,
21 and thank you for the answers. I appreciate that.
22 I have one more question for the sponsor, Spectrum.

1 There's a disconnect between the dose of
2 poziotinib that you're going after in the
3 confirmatory study, 8 milligrams BID, and the dose
4 that you are seeking approval on, 16 milligrams QD.
5 It's clearly a disconnect. Can you address the
6 disconnect? Because I didn't get it from your
7 original presentation, how those two facts are
8 congruent with each other. They seem incongruent
9 to me.

10 DR. LEBEL: Sure. Thank you.

11 We believe that dose is clear. It's
12 16 milligrams per day given in the QD
13 [indiscernible] or 8-milligram BID.
14 [Inaudible - audio gaps].

15 DR. SINGH: Hi. I'm sorry to interrupt.
16 This is Dr. Singh. I cannot hear you very well,
17 and I'm getting word from my team that this is
18 universal. I really do want to hear this response.

19 Could we try to work on the audio?

20 DR. LEBEL: We've repeated the audio has
21 been a problem. As far as the comments from
22 Dr. Pazdur, [inaudible] --

1 DR. GARCIA: Dr. Lebel, we still cannot hear
2 you really well. You're breaking up.

3 (Pause.)

4 DR. GARCIA: Dr. Chen, do we know if he's
5 reconnecting?

6 DR. SINGH: Hi, Dr. Garcia. This is
7 She-Chia Chen. Just a moment. Let me check real
8 quick. Thank you for all your patience.

9 (Pause.)

10 DR. GARCIA: This is Dr. Garcia. I'm
11 looking forward to getting back to in-person
12 meetings some time soon. This virtual technology
13 is fairly pretty lumpy for all of us.

14 DR. CHEN: Okay. The sponsor should be
15 reconnected. Thank you.

16 DR. LEBEL: Yes. This is Dr. Lebel. Can
17 you hear me now? Dr. Lebel here.

18 DR. GARCIA: Yes. Please proceed.

19 DR. LEBEL: Okay. Thank you.

20 As I was saying -- I don't know when it
21 broke off, so I'll start from the start.

22 We believe the dose is quite clear, and the

1 16-milligram per day is the dose that was shown to
2 be safe and effective in Cohort 2 and was supported
3 by two independent studies. We did the body of
4 evidence clearly over time as it evolved, and it
5 seems like the 8 BID would have the same activity,
6 and potentially a slightly better adverse event
7 profile.

8 So there were extensive discussions with the
9 agency. I think there were at least five,
10 including as late as March of this year, in terms
11 of arriving at the final dosing for the PMR.

12 There's an urgent need to be addressed, and also we
13 wanted to be in compliance and initiate this study.
14 So after many discussions, the agency and we agreed
15 that the 8-milligram BID would be the way to go
16 forward. I would additionally mention that in part
17 of my formal presentation that we're certainly open
18 to additional discussion if another dose or
19 schedule would be identified, but we believe that
20 patients needed that option as soon as possible.

21 DR. WALDMAN: This is Scott Waldman again.
22 Can I ask a follow-on clarifying question of the

1 FDA, please.

2 DR. GARCIA: Please, go ahead, Dr. Waldman.

3 DR. WALDMAN: Thank you very much.

4 So hearing that -- this is a regulatory
5 question -- is it the case that for an accelerated
6 approval of a drug, if the accelerated approval is
7 at 16 milligrams Q-day, and the confirmatory study
8 is at 8 milligrams BID, does that confirmatory
9 study support the accelerated approval of the
10 original dose?

11 Again, I'm dealing with this incongruity,
12 and I neither understand it from a drug development
13 perspective, nor from a regulatory perspective.

14 Sorry. Apologies.

15 DR. SINGH: This is Harpreet Singh,
16 director. Thank you for the question. Let me just
17 respond briefly to the sponsor because I do
18 feel -- although Dr. Fourie Zirkelbach did state in
19 her presentation, let me reiterate, we did not come
20 to any formal agreement about the 8-milligram BID
21 dose. It's very limited data, and we told the
22 sponsor that it would be at their own risk to move

1 forward with this incongruent dose.

2 The safety ER analysis, exposure-response
3 analysis, conducted by our clinical pharmacologist
4 shows fairly comparable safety profiles between the
5 8 milligrams twice daily and the 16 milligrams once
6 daily. I think a lot of this speaks to the sponsor
7 kind of rushing this development program and trying
8 to take catch-up steps, whereas the steps should
9 have been taken slowly, and methodically, and
10 appropriately early in development.

11 Basically, you're asking the question, how
12 will FDA deal with a different dose in the
13 confirmatory trial versus the dose which was
14 studied? Frankly, it's a bit of uncharted
15 territory. I don't think it's a question we need
16 to deal with today, but certainly if the
17 accelerated approval is granted -- if -- if then
18 the confirmatory trial is conducted, if it is a
19 positive study with this different dose, then we
20 will be faced with a labeling challenge; obviously,
21 a point of confusion for patients and providers,
22 but I don't necessarily find this to be the most

1 problematic issue that we're faced with.

2 I don't know if that answers your question
3 directly. I'm going to call for backup from my
4 team, if anyone would like to add anything to that.
5 But I don't think that's kind of the major issue
6 about whether there's a different dose in the
7 confirmatory trial versus the accelerated approval.
8 It is an issue, but I think that if everything
9 lined up, we would manage that later, where we
10 would probably look at adding all of this
11 information to the label and making a decision at
12 that point with the data in hand. Right now, we
13 cannot answer that question. We frankly do not
14 have enough data from the 8-milligram BID dose to
15 sanction it, so to speak, as an approvable dose
16 moving forward.

17 So give me one moment, and let me --

18 DR. PAZDUR: Hi. This is Rick Pazdur. I
19 just want to emphasize to you that your confusion
20 is well warranted because what this really
21 represents is poor drug development. Obviously,
22 before somebody launches a large phase 3 trial,

1 they should have confidence in what their dose is,
2 and the dose optimization should occur beforehand.

3 What would we do if the large phase 3
4 trial -- if it can accrue, and that's a big
5 if -- is negative? Is it because they chose the
6 wrong dose? We have a whole program here at the
7 FDA on dose optimization, and this just points to
8 one of the problems that we have here when one
9 attempts to launch a phase 3 study without
10 adequately looking at what the dose is and having
11 confidence in it.

12 I said this before, and I'll say it again.
13 Proceeding with a drug development program when you
14 don't have a well-founded dose is literally
15 building a house on quicksand here, and this is one
16 of the problems. Your confusion regarding this
17 point is well taken.

18 DR. WALDMAN: This is Scott Waldman. I
19 appreciate the enthusiastic discussion, and I'm
20 finished with my questions.

21 DR. GARCIA: Thank you, and thank you to the
22 FDA for their answers as well.

1 Next will be Dr. Thomas. Do you have a
2 question?

3 DR. THOMAS: Anish Thomas, NCI. Maybe just
4 to follow up on the previous question, the other
5 idea that I found quite a disconnect and the lack
6 of congruence is the phase 3 study. Is the
7 confirmatory trial really underway?

8 I mean, the FDA documents seem to indicate
9 that it's much delayed, but the sponsor's
10 discussion seems to suggest it's well under way,
11 although patients are not enrolled. Where is that
12 at this point? Some of the concerns were said
13 about enrollment. Is that going to be a problem?
14 That's one question.

15 DR. LEBEL: Thank you for the question.
16 Let's bring up the slide. Yes, the study is
17 underway. I'm sure you can appreciate, and many
18 members of the committee would understand, that
19 when you do a large global, randomized-controlled
20 trial, it takes time to get things going. If you
21 go to an average academic center, for example in
22 the U.S., it can take as much as 6 months to get

1 through the various committees, and it can be in
2 other places as long as a year.

3 We have a body of experience. We have done
4 the largest collection of patients with exon 20
5 mutation in HER2 and others globally, and we are
6 connected, again, with the site -- I believe there
7 are 50 of them -- and they're very enthusiastic
8 about getting going. We have 3 sites open right
9 now. We're going to get close to 30 in the next
10 few months, and we're targeting many more sites.

11 Different from what I have on the slide
12 here, we actually have 107 sites qualified. We are
13 filed in various countries. We recently got
14 approval in Korea, where we plan to have 8 sites,
15 and we're in various stages of activation of the
16 trial. Our best enrolling site, if you look at the
17 top five enrolling sites in the past, they're all
18 coming in, and there's various process of approval
19 at their site.

20 So this study is very much underway, and we
21 acknowledge there are no patients right now, but if
22 you look at the curve on the right, we never

1 expected patients at this stage, and we're on
2 track. Clearly, we understand there's a bit of
3 safety involved, but we've done these studies
4 before, so we remain very optimistic, and we have
5 good progress, and we're committed to carry out
6 this study.

7 (Crosstalk.)

8 DR. SINGH: May I please --

9 DR. GARCIA: I think Dr. Pazdur has a
10 question or a comment.

11 Dr. Pazdur?

12 DR. SINGH: Dr. Garcia, this is Harpreet
13 Singh. May I be permitted to respond to that, or
14 is that acceptable?

15 DR. GARCIA: Yes, please, Dr. Singh. Go
16 ahead.

17 DR. SINGH: Very quickly, I just want to say
18 that I think it's a great question from Dr. Thomas.
19 While there are no patients enrolled, it takes us
20 back to this feasibility issue of whether or not
21 patients in the United States would be feasibly
22 enrolled in this trial given what providers do have

1 in their armamentarium, which we've discussed at
2 length. So if this study is completely off shore,
3 so to speak, or completely done ex-U.S., this would
4 be antithetical to the entire oncology community's
5 statement and commitment to diversity and having a
6 patient population that's reflective of the U.S.
7 patient population.

8 I do think that this is an important point,
9 and it's kind of an extension of this question.
10 You hear the company talking about opening sites
11 basically in other countries. You must ask
12 yourself why they must do this, and if we would get
13 any U.S. patients in this study. So I just wanted
14 to add that small point. Thank you for allowing
15 me.

16 DR. GARCIA: Thank you.

17 DR. LEBEL: Mr. Chairman, can I --

18 DR. GARCIA: Dr. Pazdur, do you have a
19 comment?

20 DR. PAZDUR: Yes. The company might be
21 happy; we're not, and let me make this real clear.

22 I'll refer you to an article that we just

1 published in the New England Journal that came out
2 yesterday, last night, on this whole topic. It's
3 called The On Ramps and Off Ramps of Accelerated
4 Approval, and it has important numbers in there for
5 you to consider, and I'll give you some of these.

6 The issue here is we want companies to come
7 in and have a comprehensive discussion with us very
8 early on regarding what their plans are for
9 accelerated approval and what their plans are to
10 confirm their study. FDA guidance, that has been
11 there for more than a decade, clearly states that
12 it is anticipated that these trials should be
13 ongoing, and by ongoing, we mean accrual of
14 patients to the study at the time of the
15 accelerated approvals.

16 To give you an idea -- and let me quote some
17 of the numbers out of that paper, which shows the
18 importance of having the studies actively accruing
19 patients -- if the confirmatory trial was ongoing
20 at the time of the accelerated approval, which was
21 66 actions, the median time to conversion was
22 3 years. If the confirmatory trials had not been

1 initiated, it was 5 years. However, if you take a
2 look at the trials that did not demonstrate or were
3 not converted, it is very impressive that if the
4 trials were ongoing, it's 3.8 years,
5 however -- rather, if they were going, it was
6 3.8 years, and if they weren't, it was 7.3 years,
7 so a significant delay.

8 The other important point is not only the
9 delay, but can the trial be done? And this is
10 where we have gotten into problems with accelerated
11 approval, is that sponsors agreed to these studies,
12 and then they come back in a year and say, "Oh, we
13 don't have sufficient accrual," and then we're
14 looking at alternative trial designs.

15 So really, the point that we want to get
16 across is that we want early discussions on these
17 trials and them to be ongoing, and it's really only
18 fair to the patients because you're really putting
19 patients significantly at risk, and you're also
20 putting your development program at risk because
21 many times response rates will not really project
22 what the true benefit of the drug is, and that will

1 only be seen with a randomized study that looks at
2 overall survival. In other words, these response
3 rates may not be good correlates or surrogates for
4 overall survival, so you could actually be
5 abandoning drugs just looking at response rates.

6 But the point I want to get across is really
7 we'd want to have these trials ongoing, and it
8 really is to the detriment of patients not to have
9 these trials ongoing, and this has been in the FDA
10 guidance for many months. I will give you some
11 additional numbers.

12 We took a look at this. If one takes a look
13 at the accelerated approvals over the past three or
14 four years, probably 85 percent of these
15 accelerated approvals have ongoing trials. So
16 industry has heard us loud and clear, and this
17 trial is obviously -- or this application is not
18 consistent with many of the current trends that
19 we're seeing with other sponsors, including the
20 other drug that they kept on bringing up in HER2,
21 that had a trial that was actively improving
22 patients. I hope that helps.

1 DR. GARCIA: It does. Thank you.

2 DR. LEBEL: Mr. Chairman, can I try to
3 clarify two points that were made by the two last
4 speakers?

5 DR. GARCIA: Yes, you can. Please identify
6 yourself so we know who's talking.

7 DR. LEBEL: Yes, sure. Dr. Lebel from
8 Spectrum. I just want to clarify -- and we're at
9 the location of the site. The plan is to have
10 about 20 percent of the sites in the U.S. We
11 already have three opened in the U.S., and we
12 anticipate those are going to open pretty fast now,
13 within the next couple of weeks. Then separately,
14 we're very aware to maintain ongoing countries
15 where the practice of medicine is not identical to
16 the U.S. of course, but very much in line so that
17 the data can be representative when we get it.

18 As to the feasibility, we have two points to
19 make. The first one is we clearly have been able
20 to recruit the largest collection of HER2 exon 20
21 patients than anybody else, even though we're a
22 small company. Then the last point is given that

1 there potentially is an available or approved drug
2 in the market, [inaudible - audio gaps] -- we think
3 actually that it may enhance recruitment in the
4 U.S., and obviously ex-U.S., the drug is not
5 necessarily approved, and therefore there would be
6 no real competing group there.

7 Dr. Heymach, do you want to make an
8 additional comment?

9 DR. HEYMACH: To give a clinical perspective
10 on this and expand on it, just to clarify because
11 I'm not sure this came through earlier, in the
12 randomized phase 3, patients with prior trastuzumab
13 deruxtecan, or trastuzumab emtansine, or any
14 HER2-positive therapy are eligible for the
15 confirmatory phase 3 study, and that's a really
16 important point because I've heard this raised,
17 this important issue about the feasibility, but
18 actually those patients are included. So in the
19 same way those patients are eligible for the
20 poziotinib study, they're eligible for the
21 confirmatory study.

22 The reason this is important is when we have

1 a brand new disease space, up until this last
2 month, there was no clinical reason that mandated
3 checking for HER2 mutation for patients with lung
4 cancer. But as of this past month, now with the
5 drug approved, this means the standard of care will
6 be to check for HER 2 mutations, so the number of
7 HER2 mutant patients we expect is going to
8 dramatically rise, and many of those will be
9 treated with prior trastuzumab deruxtecan or
10 trastuzumab emtansine, so that we believe will
11 increase the total number of patients eligible for
12 the clinical study.

13 So given that those patients are eligible, I
14 think this confirmatory study meets a really
15 important unmet need for what happens after HER2
16 ADC, whether patients receive it or don't receive
17 it.

18 If I could just make one more clinical
19 comment -- I've heard some points raised about the
20 size of the different studies in terms of the dose
21 modification -- and give a clinical perspective
22 here, this is by far the largest study ever in this

1 rare population. In fact, it's more than twice as
2 large as any other study for HER2 exon 20 mutations
3 that I'm aware of. So for studies like this, the
4 amount of dose optimization done, I'm not aware of
5 anything comparable. But from a clinical
6 perspective, I think that our management would be
7 similar with 8 BID or 16 Q-day.

8 I think the difference from a clinical
9 perspective and how we manage those will be
10 similar. Like with drugs we use like dacomitinib
11 or afatinib, both of which the majority of patients
12 get dose reductions in the randomized studies and
13 in clinical use, we explain to patients that during
14 the first 2 to 8 weeks, they'll have side effects,
15 we expect that they commonly will need dose
16 modifications, and then we prepare them for that,
17 so this is not something that's unexpected.

18 And just to remind people, with afatinib,
19 FDA approved -- or dacomitinib for lung cancer.
20 The majority of patients required dose reduction,
21 but this doesn't prevent us from using it
22 effectively. We prepare patients for this, we dose

1 reduce where needed, and we're able to manage that
2 clinically.

3 So from a clinical perspective, we think
4 it's more important that patients have the ability
5 to use the drug and let clinicians modify it, as
6 they're used to do it, then delaying it for a
7 while, while additional dose optimization is
8 happening.

9 DR. LEBEL: Thank you.

10 DR. GARCIA: Thank you, Dr. Heymach, for
11 your clinical insights.

12 In the interest of time, we're just going to
13 move on. We have a few additional questions from
14 the committee members. Next will be Mr. Pantelas.

15 MR. PANTELAS: Yes. Thank you. This is Jim
16 Pantelas. I'm a patient representative, and I've
17 got two questions for FDA.

18 I understand the FDA's assertion that
19 poziotinib may not present an option over existing
20 and available therapies, but many lung cancer
21 patients tend to look at survivability in more of
22 an iterative fashion. As such, I found the

1 proposal of using a second- and third-line
2 treatment reflected in the applicant's slide
3 CO-73 -- if we can put that up, that would be
4 really helpful -- and I found that side compelling.

5 My first question is, can the FDA comment on
6 this slide, including its validity and proposed
7 used for the drug? And second, where will
8 trastuzumab fit into this slide from the FDA's
9 perspective?

10 DR. SINGH: Okay. This is Harpreet Singh.
11 Thank you for the question. Let me just address
12 two points that you raised.

13 First, you say that FDA is asserting, which
14 we are -- correct you are in that we are asserting
15 that poziotinib does not represent a meaningful
16 advantage to patients over what is currently
17 available. That is the legal requirement in the
18 Code of Federal Regulations for accelerated
19 approval. This is different than a regular or
20 traditional approval in which the drug just has to
21 be better than what it was compared to. Here, in
22 this single-arm setting, it must be clinically

1 either efficacy or safety-wise, and represent an
2 advantage to patients over what they have
3 available. So we do not believe this to be true
4 based on what we've shown you.

5 Now, your question about trastuzumab, I
6 seriously understand how one could find this
7 compelling, however, it is inaccurate and
8 misleading, and I'll tell you why. Sponsors often
9 present slides that look like this, but the
10 applicant is proposing basically to insert
11 poziotinib post-trastuzumab as a sequencing, as
12 part of your regimen for patients who have this
13 rare mutation, that poziotinib could come after
14 trastuzumab. The fact that they're even placing it
15 after I think is indicative that they believe that
16 most providers would reach for that ADC first, but
17 I digress.

18 So let's go back to the sequencing issue.
19 This is not a population which they studied. This
20 is not the indication which they are seeking. They
21 are seeking an indication for poziotinib post one
22 prior therapy. They are not seeking indication for

1 poziotinib post-trastuzumab. If they were to
2 conduct a trial in which all patients received
3 trastuzumab and then poziotinib, and then presented
4 us with that data and were seeking that indication,
5 the conversation may be different. But here you
6 see the sponsor try to make the case for an
7 indication they simply have not studied, and
8 therefore we cannot consider this argument in our
9 risk-benefit assessment.

10 Dr. Beaver, is there anything you'd like to
11 add to this?

12 DR. BEAVER: Hi. Julia Beaver, FDA. Just
13 to summarize the main points, for accelerated
14 approval, the drug needs to represent improvement
15 over available therapy for that line of therapy it
16 was studied in. And in this case, the available
17 therapies are clearly taking HER2 off the
18 table -- the available therapies, docetaxel,
19 ramucirumab, and also includes IO because not all
20 patients in the poziotinib study presented for
21 accelerated approval received that therapy,
22 therefore IO is considered available therapy.

1 We cannot invent new indications without
2 data demonstrating benefit in those populations,
3 and as such, it's purely hypothetical that
4 poziotinib would have benefits in different lines
5 of therapy or in different sequencing. Thank you.

6 MR. PANTELAS: Thank you so much.

7 DR. GARCIA: Thank you.

8 We have a few minutes for a couple of
9 questions before the break. Let's go with
10 Dr. Harrington. And I will please remind the
11 committee members, once you have completed your
12 question, if you can lower your hand. That would
13 be great.

14 Dr. Harrington?

15 DR. HARRINGTON: Thank you. I have a
16 question and a comment. I understand the values
17 and the weaknesses of accelerated approvals, and
18 clearly there's some uncertainty here regarding a
19 risk to proceed with accelerated approval. Perhaps
20 the FDA could verify for me, if accelerated
21 approval is not granted, can the sponsor
22 proceed -- I believe they can -- with their phase 3

1 randomized trial?

2 DR. SINGH: This is Harpreet Singh. Yes,
3 they may. Some think [indiscernible] this
4 randomized trial is independent, we would hope, of
5 whether or not accelerated approval is granted, and
6 I will quote Dr. Pazdur here. "Accelerated
7 approval was designed and created for the benefit
8 of patients. They are not meant to be a financial
9 incentive or any incentive for drug companies to
10 rush drugs to market."

11 So we encourage and promote, and we
12 sincerely hope that the sponsor aggressively
13 pursues this randomized trial to really demonstrate
14 if there is true benefit of this drug in a
15 time-to-event endpoint in a randomized setting.
16 Absolutely, yes they may. The two are independent
17 of each other. Thank you.

18 DR. HARRINGTON: Thank you.

19 DR. GARCIA: Thank you.

20 I think we're going to probably have one
21 final question if we have to get to break.

22 Dr. Halmos?

1 DR. HALMOS: Hi. It's Balazs Halmos here
2 from Montefiore Einstein. As a thoracic
3 oncologist, myself actually sitting in clinic, I
4 definitely recognize the unmet needs for this
5 patient population. Indeed, these are typically
6 younger patients with limited, if any, benefit from
7 immunotherapy, so that probably is not a fair
8 comparison here, as I said before, and a very
9 limited benefit from second-line chemotherapy.
10 Even if we consider trastuzumab deruxtecan, I think
11 poziotinib, a TKI, oral TKI, could thoroughly be a
12 potentially attractive additional choice, a very
13 different mechanism of action, and likely no
14 cross-resistance and reported CNS activity.

15 I also really appreciate the tremendous
16 effort it has taken to collect this large and
17 unique experience in this orphan disease. I
18 recognize that the study met its efficacy endpoint
19 with some long-term benefits, and actually
20 carefully read the patient testimonials submitted
21 to the FDA, demonstrating the desire to have access
22 to this option.

1 However, certainly one has to be concerned
2 about practically each patient facing significant
3 toxicities. As much as these are anticipated, I'm
4 familiar as a clinician [indiscernible] of EGFR,
5 TKI experience, and this is just a very narrow
6 therapeutic window. There actually may be a
7 perfect dose across the board but just not be
8 definable.

9 So my questions to the sponsor are, are
10 there actually identifiable molecular clinical
11 features that could highlight populations with a
12 higher chance of response benefit, and similarly
13 any clinical or PK features that would help
14 identify patients at higher risk for excessive
15 toxicity, where the potentially approved dosing
16 clearly would not be advisable or safe in order to
17 help enhance the risk-benefit profile? And if
18 approved, what efforts will the sponsor take to
19 further study those critical issues in real-world
20 patient populations, understanding that the
21 confirmatory study will take a long time to provide
22 results, if at all feasible, which is indeed in

1 question? Thank you.

2 DR. LEBEL: Thank you for your question.

3 Dr. [inaudible - audio gap].

4 DR. GRAY: Absolutely. This is Dr. Jhanelle
5 Gray from Moffitt Cancer Center. I certainly
6 understand the concerns that have been raised, and
7 as a reminder of what was just presented by
8 Dr. Heymach, we know in clinic that we are very
9 familiar with taking care of patients with dose
10 reductions, dose adjustment, and managing these
11 toxicities.

12 You can see here for the second/
13 third-generation TKIs -- and again, I'm showing
14 data here that is in addition to what was included
15 in the FDA data; those are the slides that were
16 just presented -- you can see that over 50 percent
17 of patients with afatinib were [indiscernible]
18 treated over years and years in clinic, and have a
19 lot of experience and familiarity with -- that
20 these patients require a dose reduction. There are
21 actually lower dose reductions with dacomitinib
22 than there are with poziotinib. These

1 discontinuation rates across these medications are
2 similar, and in the MD Anderson study,
3 interestingly, only one patient required
4 discontinuation from medications in the poziotinib
5 study.

6 Another thing I want to just share with you
7 is that as clinicians, we are also very familiar
8 with treating toxicities. This is across rash.
9 This is across diarrhea. We do these every day in
10 our clinic. This is my life every day in clinic.
11 We look at poziotinib compared to some medications
12 like mobocertinib, with neratinib. The grade 3 or
13 higher diarrhea rates are the same. We've been
14 doing this already, so it's 21 to 22 percent.

15 When in clinic, what do I do? I set
16 expectations with my patients. I let them know
17 that there is a big clinical team working together
18 in partnership with them, for them, to get this
19 done. These patients, they have nurses. They have
20 nurse navigators. They have my physician
21 assistants. They have me to help them through
22 this. And those expectations, I talk to them

1 what's going to happen in those first 2 to 8 weeks.
2 I let them know that we may need to adjust doses.
3 We may change your medication, the dosing. We may
4 talk about your dietary changes. We may prescribe
5 more medications to take care of these toxicities.
6 Eventually, we'll get to a point where we're stable
7 and we feel comfortable.

8 To your point about the education, I
9 definitely want to allow the sponsor -- when these
10 drugs post-approval, we certainly know that there's
11 going to be management of these toxicities that are
12 going to be outlined in the package insert.
13 There's going to be a vast amount of education, not
14 just to patients, but also to providers. What
15 resonates with me when a new drug gets approved is
16 what have I done before? Where have I seen this
17 medication previously? And then I can say, "Okay.
18 I know how to manage diarrhea from TKIs. This is
19 no different."

20 We also know that in the setting of recent
21 approvals, these medications, when I look at them
22 and look at their outcome, it's very hard to think

1 that these medications that have similar overall
2 responses, that I'm going to have to deny a patient
3 access to poziotinib. We know the lung cancer
4 burden. We recognize, as I heard on this, that
5 access is something that's also very important, and
6 the thought of the delaying patients access to a
7 drug that could be potentially efficacious to them
8 is something that is very, very challenging. And
9 from my clinical perspective, we need this
10 medication approved now for our patients and allow
11 us, the clinicians, who do this every day in
12 clinic, to manage these toxicities that we've been
13 doing for years, over and over, and we feel
14 comfortable doing this.

15 With that, I'll ask Dr. Socinski.

16 DR. GARCIA: I think we --

17 DR. SINGH: Okay. This is Dr. Singh from
18 FDA. That was a very long response. FDA has to
19 respond to this, to the safety. We cannot move on
20 to efficacy until FDA responds to safety because
21 there was some highly misleading information there.
22 We have some backup slides that refute this

1 information, and also single-arm, time-to-event
2 endpoints were shown, PFS, which we cannot
3 consider, and were misleading, particularly for
4 mobocertinib.

5 FDA, can you please pull up our backup
6 slide? Dr. Malinou, do you have the slide number?

7 DR. GARCIA: Dr. Singh?

8 Dr. Singh, I'm going to give you a few more
9 minutes to address those comments. In the interest
10 of time, we're going to have to take a break
11 because we have a pretty busy agenda after the
12 break, but please go ahead and finish your
13 comments.

14 DR. SINGH: Okay. If we can, pull the
15 backup slides, which I'll ask Dr. Malinou to
16 address, the slide that compares all the recent
17 lung cancer FDA-approved targeted therapies and
18 their efficacy. Mobocertinib keeps getting
19 invoked. Yes, this had a similar response rate,
20 but the duration of response was in excess of, I
21 believe, over a year. It was quite long. Here we
22 are. Thank you; 17 months for mobocertinib. There

1 was no dosing issue with mobocertinib, so we were
2 not struggling. The safety profile was
3 established. It was [indiscernible].

4 You know, the sponsor keeps talking about
5 how they have amassed the largest database in this
6 rare need [indiscernible]. Thus, I find it
7 unfortunate that they have missed several
8 opportunities and several steps along the way to
9 optimize this dose. If they had so many patients
10 with this mutation, they should have moved forward
11 either, or both, to adequately optimize the dose in
12 larger patient cohorts, rather than 3 patients per
13 cohort, as they did, or they should have initiated
14 randomized trials.

15 This is a fatal [indiscernible] flaw in this
16 development program, and we really needed to show
17 this data in a different way. Look at this
18 duration of response of mobocertinib. You cannot
19 see single-arm PFS data; it is not interpretable.
20 So I will close with that here.

21 DR. GARCIA: Thank you, Dr. Singh.

22 In the interest of time, Dr. Rosko, I

1 apologize. We probably will have the ability to
2 have an active discussion in the group after the
3 OPH. We're going to just take a quick break. We
4 have to come back at 11:40 for the open public
5 hearing, so take 6 minutes, and we'll reconvene at
6 11:40.

7 (Whereupon, at 11:34 a.m., a recess was
8 taken.)

9 **Open Public Hearing**

10 DR. GARCIA: We will now begin the open
11 public hearing session.

12 Both the FDA and the public believe in a
13 transparent process for information gathering and
14 decision making. To ensure such transparency at
15 the open public hearing session of the advisory
16 committee meeting, FDA believes that it is
17 important to understand the context of an
18 individual's presentation.

19 For this reason, FDA encourages you, the
20 open public hearing speaker, at the beginning of
21 your written or oral statement to advise the
22 committee of any financial relationship that you

1 may have with the sponsor, its product, and if
2 known, its direct competitors.

3 For example, this financial information may
4 include the sponsor's payment of your travel,
5 lodging, or other expenses in connection with your
6 participation in the meeting. Likewise, FDA
7 encourages you at the beginning of your statement
8 to advise the committee if you do not have any such
9 financial relationships.

10 If you choose not to address this issue of
11 financial relationships at the beginning of your
12 statement, it will not preclude you from speaking.
13 The FDA and this committee place great importance
14 in the open public hearing process. The insights
15 and comments provided can help the agency and this
16 committee in their consideration of the issues
17 before them.

18 That said, in many instances and for many
19 topics, there will be a variety of opinions. One
20 of our goals for today is for this open public
21 hearing to be conducted in a fair and open way
22 where every participant is listened to carefully

1 and treated with dignity, courtesy, and respect.
2 Therefore, please speak only when recognized by the
3 chairperson. Thank you for your cooperation.

4 We have eight speakers, so will speaker
5 number 1 please begin by stating your name and any
6 organization you are representing for the record.

7 MS. JOHNSON: Yes. Hi. Thank you for
8 giving me time to speak. My name is Susan Johnson,
9 and I have no financial connection to any drug
10 company, and no one is paying me for my testimony
11 today.

12 (Pause.)

13 DR. CHEN: This is She-Chia, the DFO.
14 Ms. Johnson, we cannot hear you. Can you try it
15 again, please? Thank you.

16 MS. JOHNSON: Oh, yes. I'm sorry.

17 Thank you for giving me this time to speak.
18 My name is Susan Johnson, and I have no financial
19 connections to any drug company, and no one is
20 paying me for my testimony today.

21 (Pause.)

22 DR. GARCIA: Ms. Johnson, do you have any

1 statements? We can see your PowerPoint slides, but
2 we don't hear you.

3 MS. JOHNSON: Yes. Can you hear me now?

4 DR. GARCIA: Yes, we can hear you now.

5 MS. JOHNSON: Oh, okay. Are you ready for
6 me to speak?

7 DR. GARCIA: Please proceed.

8 MS. JOHNSON: Oh, sure. Thank you. And you
9 heard the part I have no financial connections to
10 any drug company?

11 DR. GARCIA: We did, indeed.

12 MS. JOHNSON: You did. Thank you.

13 DR. GARCIA: Thank you.

14 MS. JOHNSON: Okay.

15 My husband was a poziotinib patient for a
16 little over 13 months, and he did so well on this
17 drug, with an initial reduction of over 90 percent,
18 so much so that he was able to return to work
19 full-time at a job that he loved. He was working
20 in a classroom with fifth grade students

21 This drug allowed him to do the things and
22 to visit the places that he loved the most. He was

1 able to travel to places like Hawaii, Alaska, and
2 to hike in these beautiful places. Even close to
3 home, there was a great hike, that upon reaching
4 the peak, you could actually view the ocean. He
5 was determined to make it to the top soon after
6 starting poziotinib, and he did just that. He was
7 able to go out and have his lunch with friends and
8 family daily. That was one of his goals once he
9 was able to retire, and he was able to live life as
10 normal as you can get with a stage 4 terminal
11 cancer diagnosis.

12 Were there side effects? Sure. They were
13 very minimal for him, thankfully, and as long as
14 you work with your clinicians, they will work with
15 you, and they'll help you to get through them. So
16 definitely, these side effects can be managed.

17 Poziotinib offers a chance at a normal life
18 style, probably similar to pre-diagnosis, and
19 that's how we felt. For two years, we traveled out
20 of state getting on an airplane each month, and it
21 was nearly 23 months. To receive this drug, it was
22 that important to us, and thankfully it later

1 became more easily available.

2 As we talked to many cancer patients and
3 many folks that had the opportunity to try
4 poziotinib, we would let them know that poziotinib
5 is a targeted drug that will focus on a particular
6 mutation. As an angel buddy and a volunteer
7 patient navigator for exon 20 in HER2 patients, for
8 the last five years I've had the opportunity to
9 share our amazing poziotinib experience and let
10 them know that poziotinib will focus on their
11 particular mutations.

12 Another thing with my husband, who started
13 out teaching students for 38 years, is he ended up
14 a huge teacher of adults and advocating and
15 praising poziotinib for letting him live an amazing
16 life. Another thing that I thought was amazing was
17 that my husband weighed 180 pounds, and he was on
18 the full dose the entire time. At the same time, a
19 very slight woman weighing much less, she too was
20 on the full dose, and they were both receiving
21 great results. So this drug was truly our miracle
22 drug. It was a blessing to our family, and I will

1 forever fight for poziotinib and for those patients
2 that can benefit from it.

3 So for us, poziotinib has been a lifesaver.
4 It gave us a quality of life for my husband and
5 allowed him to do many things, and it allowed him
6 to see three grandchildren be born. So as I
7 mentioned, we will forever be grateful to
8 poziotinib. Thank you.

9 DR. GARCIA: Thank you, Ms. Johnson.

10 MS. JOHNSON: Absolutely.

11 DR. GARCIA: We'll now start with speaker
12 number 2. Speaker number 2, please begin by
13 stating your name and any organization you are
14 representing for the record.

15 MR. FILIPIAK: Good morning. My name is
16 James Filipiak, and I appreciate the opportunity to
17 speak with you today about my experience with
18 poziotinib. I would like to state for the record
19 that I have no financial interest whatsoever with
20 Spectrum Pharmaceuticals or any other, and I'm not
21 being compensated for my time today.

22 I feel that I have a unique perspective as a

1 caregiver for many years of my wife Bobbi, who had
2 exon 20 mutated lung cancer and was enrolled in the
3 poziotinib trial for 18 months. Additionally, I've
4 been the co-sponsor of the leading social media
5 site for poziotinib patients at the HER2 exon 20
6 group in the Bobbi Fight Club, which has helped to
7 navigate HER2 exon 20 patients from around the
8 world for the last five years, with side effects,
9 et cetera.

10 Having been at my wife's side 24/7
11 throughout her poziotinib treatment, I can conclude
12 that not only are the side effects manageable, but
13 a high quality of life can and was maintained
14 throughout her 18 months on the drug. In concert
15 with our local oncologist, local pharmacist, and
16 dermatologist, we found that reasonably simple
17 solutions worked to counteract the side effects.

18 For example, diarrhea was mostly remedied by
19 over-the-counter medicines. Paronychia and skin
20 rash was helped with tea tree oil, Aquaphor, and
21 Saran wrap for a short period, and other
22 moisturizers. Magic mouthwash with lidocaine for

1 mouth irritations worked well, and the occasional
2 few days break from the drug let things calm down
3 when necessary, and it seemed to work quite well.
4 We've since share these remedies with other
5 patients with similar success. Additionally, some
6 patients found a short course of steroids help as
7 well, although we did not require that. Lastly, a
8 dose reduction from the initial 16 milligrams
9 helped greatly and did not alter the effectiveness
10 of the drug for us.

11 My wife and others, after some adaptations,
12 were able to work and have excellent quality of
13 life. What I'd like to leave you with mostly today
14 is over the past five years, I personally know of
15 no HER2 exon 20 patient who left the poziotinib
16 trial because of the side effects, and I feel that
17 with these guidelines, it's manageable, and so many
18 have extended their lives, some up to 2 years and
19 possibly beyond.

20 Sadly, after a 7-year fight, my wife did
21 pass away, but I cannot express enough how grateful
22 are young daughter, my wife, and I were to have

1 that time that poziotinib gave us. We were proud
2 to be a part of the trial and the solutions that
3 have helped so many. Thank you.

4 DR. GARCIA: Thank you.

5 Will speaker number 3 begin by stating your
6 name and any organization you are representing for
7 the record?

8 MS. URBANO: Thank you. My name is Maria
9 Urbano. I live in Tampa, Florida. First of all, I
10 wanted to thank you for allowing me to speak today
11 and share my personal perspective as a sister who
12 has lost her brother to HER2 exon 20 non-small cell
13 lung cancer. I apologize for my accent since I was
14 born in Colombia, so I ask for a little bit of
15 patience.

16 Before I begin, I would like to let you know
17 that I have no financial relationship to any drug
18 company or its competitors. I'm here only to share
19 my family experience, hoping that it can save at
20 least one cancer patient.

21 Today is really hard to speak for me, today.
22 Two months ago exactly, my brother passed away. He

1 was diagnosed with stage 4 cancer back in March.
2 At the beginning, he had very few symptoms;
3 actually, only one pain in his back, so it was a
4 really complete surprise to everybody. We were
5 shocked to know that he had metastases everywhere:
6 brain, bone, lungs, of course.

7 One case, my brother was a very difficult
8 one. His disease moved through his body extremely
9 fast. It was made worse by the fact that he lives
10 in Colombia. He only had access to one treatment,
11 and that was chemotherapy, and chemotherapy failed
12 him. He had a long [indiscernible] with a lot of
13 complications, and passed away really quick, just
14 months after diagnosis.

15 It's impossible to know if this medication,
16 poziotinib, would have helped him, but here is what
17 I do know. All he had access to in Colombia was a
18 therapy, a chemotherapy, a therapy that was not
19 targeted to his specific generic HER2 exon 20
20 mutation. Chemotherapy was the best and only thing
21 he had access to, and that one therapy didn't help
22 him.

1 Had Juan [ph] lived, I had hopes to take him
2 to the Moffitt Cancer Center here in Tampa to get
3 access to poziotinib, the trial. We like the idea
4 of an oral therapy, a targeted therapy, a therapy
5 for only HER2 exon 20, a target therapy that can be
6 used as the first line of treatment, especially for
7 patients in stage 4, I'm pretty sure that would
8 make a difference.

9 I appreciate the chance to speak here today
10 about the needs of HER2 20 patients like my
11 brother, hoping that it can save one's life and can
12 help somebody else in the future. Thank you.

13 DR. GARCIA: Thank you.

14 We'll move on now. Speaker number 4, please
15 begin by stating your name and any organization
16 you're representing for the record.

17 MR. BRAND: Hi. My name is Bill Brand, and
18 I live in Redondo Beach, California. I have no
19 financial interest in your decision today of any
20 kind. I was diagnosed with HER2 exon 20 stage 4
21 lung cancer 3-and-a-half-years ago. It was
22 discovered when out of the blue I suffered a

1 seizure during a flight on my way to go surfing in
2 Mexico. I had felt fine up to that point, so it
3 was quite shocking to wake up from what I thought
4 was a short nap, only to have a nurse sitting next
5 to me with an oxygen tank and a mask, telling me I
6 had suffered a seizure.

7 Since then, I have survived because of
8 numerous radiation treatments and infusion of
9 various chemicals. My success is in HER2, which
10 gave me 18 months of a normal life. HER2 is not
11 FDA approved for my condition, but it is for breast
12 cancer. There are very few treatments for HER2.
13 All the various drugs I've been on are crap shoots.
14 One never knows if they're going to work on you,
15 how long if they do, or will they produce side
16 effects that make it impossible to continue.

17 HER2, for me, it proved to be a huge
18 success, but for some HER2 patients, it only works
19 for a short time or not at all. One never knows if
20 something's going to work until they try it, so
21 options like poziotinib are all we have. There is
22 no cure for us, so we jump from treatment to

1 treatment, knowing if it does work, it will likely
2 stop working and another one will be required.
3 Once we are out of options, well, that is the end
4 for us, which it is for about 200,000 people per
5 year in the U.S.

6 My next drug will likely be poziotinib.
7 Please do whatever you can to make this readily
8 available. While it has side effects, it is one of
9 the most successful drugs treating HER2 exon 20, of
10 which we have very few options; please, please,
11 please, on behalf of patients like myself looking
12 for the next treatment for our rare condition and
13 those who have passed who benefited from
14 poziotinib, which you heard about just now, but who
15 are not here today to testify about the extra
16 months or even years of life this drug gave them.

17 Please advocate for FDA approval. Thank you
18 to James, Susan, and Mary Lee [ph] for calling in,
19 and anyone who wants to contact me can email me at
20 the number 1billbrand@gmail.com. That's a
21 number 1-B-I-L-L-B-R-A-N-D@gmail.com. Thank you.

22 DR. GARCIA: Thank you.

1 Will speaker number 5 please begin by
2 stating your name and any organization you are
3 representing for the record?

4 DR. BOCKER: Hello. My name is Michael
5 Bocker. By way of disclosure, I work in cancer
6 drug discovery, but would like to make clear I have
7 no financial relationship with Spectrum
8 Pharmaceuticals.

9 I'm here today speaking on behalf of my mom,
10 who was diagnosed in November 2018 with non-small
11 cell lung cancer, HER2 exon 20 insertion. The
12 first line of therapy was carboplatin and
13 pemetrexed for this [indiscernible] tumor.
14 Overall, this treatment allowed a good quality of
15 life, however, the chemo had to be dropped 9 months
16 later to increasing anemia [indiscernible].

17 Then a couple of months later, she started
18 second-line therapy consisting of docetaxel
19 combined with ramucirumab [indiscernible]. This
20 treatment was not well tolerated and resulted in
21 hospitalization after the first cycle. During this
22 time she could not live a normal life. Further,

1 the treatment did not control her brain metastases.
2 Luckily, we were already in the process of applying
3 for early access of poziotinib as her third-line
4 therapy, which she started 2 months later. She
5 remained on poziotinib for the next 18 months.

6 The treatment with poziotinib did require
7 dose reduction from 16 milligrams to 12 milligrams
8 daily. In the last 6 months, my mom had to reduce
9 the dose further to 8 milligrams daily, with
10 occasionally 10 milligrams daily. However, during
11 this period of time, my mom had a good quality of
12 life. Yes, there were some side effects like
13 broken skin and diarrhea, but in contrast to the
14 previous lines of therapy, she was not bound to
15 clinic-based infusions and could have a relatively
16 normal life.

17 Further, the duration of stable disease for
18 her was much longer than on any other of her
19 previous lines of therapy. I strongly believe that
20 the access to poziotinib allowed her to live long
21 enough to gain access to a third/fourth-line of
22 therapy, which is trastuzumab combined with

1 [indiscernible], which she's currently 13 months
2 total so far.

3 I hope this accelerated approval of
4 poziotinib, that other HER2 exon 20 non-small cell
5 lung cancer patients get the same opportunity for a
6 longer life. Thank you for considering my
7 statement.

8 DR. GARCIA: Thank you.

9 Will speaker number 6 please begin by
10 stating your name and any organization you're
11 representing for the record?

12 MS. LENIZ: I have a slideshow with my
13 presentation, if you could please start that now.

14 Good morning. My name is Kristen Leniz, and
15 I'd first like to make it clear I have no conflict
16 or financial interest in today's proceedings. All
17 of those featured in the slideshow you see before
18 you today have given their expressed consent to
19 allow the use of their images as well.

20 My mom, Stella Martinson, has been fighting
21 non-small cell lung cancer since 2011, and we were
22 devastated when she received a stage 4 diagnosis in

1 2018. At that time, we learned of her rare exon 20
2 mutation and also that there were no approved drugs
3 available. Consequently, when we learned she had
4 qualified for the poziotinib trial, we were
5 incredibly grateful. We did learn how to
6 effectively manage the side effects she experienced
7 working with her clinical team. After nearly a
8 year on this regimen, poziotinib reduced her cancer
9 significantly and was a critical bridge to future
10 treatment.

11 As a volunteer patient advocate with the
12 exon 20 group, I also have firsthand experience
13 with hundreds of exon 20 patients being treated
14 with poziotinib and other drugs, both at major
15 research hospitals and local community cancer
16 centers across the country. I've witnessed the
17 challenges and the triumphs from many participating
18 in this trial and walk with them through the
19 day-to-day experience of what it's like to be on
20 this regimen.

21 I've learned through that shared experience
22 how the diverse range of healthcare teams are

1 handling side effect management and patient care.
2 This has given me a unique opportunity to not only
3 see the progression with dosing strategies but also
4 how patients have been able to effectively manage
5 the side effects of this drug with the help of
6 their clinicians. As with many cancer drugs, the
7 toxicities can be challenging but they are
8 manageable. Overall, with the patients I've worked
9 with, the side effects were similar to other TKIs
10 in this class, and many patients have benefited
11 greatly from this drug.

12 Taken since she participated in the
13 poziotinib trial, the photos you see before you
14 today are all gifts of time that poziotinib has
15 given our family. Poziotinib has given my mother
16 the ability to see her grandchildren graduate,
17 spend countless birthdays with loved ones,
18 celebrate holidays together, and create priceless
19 memories we will treasure always. In short,
20 poziotinib gave my mother an opportunity to make
21 memories instead of just becoming one. Because of
22 poziotinib, Mom also has a fighting chance to meet

1 her very first great-grandchild, Charlotte, who's
2 due in early December.

3 In conclusion, based on my unique experience
4 with my mom and many other exon 20 patients, I've
5 seen that poziotinib is a safe, effective, and
6 manageable drug. The meaningful benefits
7 absolutely outweigh the manageable risks. I
8 respectfully ask for your consideration to approve
9 this critical drug so that other families like mine
10 will have a chance at a better future. Thank you
11 for your time.

12 DR. GARCIA: Thank you.

13 Will speaker number 7 please begin by
14 stating your name and any organization you're
15 representing for the record?

16 MS. MODICA: Thank you for the opportunity
17 to speak today. My name is Mary Modica. My only
18 relationship to this meeting is that you are
19 talking about a drug that I take, as I have no
20 affiliation with any company or organization.

21 After a long x-ray, my primary care doctor
22 sent me for a consultation with a thoracic surgeon.

1 This was July 2019. I was told I had small cell,
2 non-smoker's HER2 exon 20 lung cancer that was
3 inoperable and incurable. Needless to say, I was
4 devastated and dumbfounded.

5 From this appointment, I met with an
6 oncologist to discuss treatment. I began with
7 radiation and infusion, which is short of a
8 nightmare for me. From there, I had chemotherapy
9 and chemo maintenance. I also had a drain in my
10 lung. Needing something more, I began to search
11 for a trial program for something more than chemo.
12 My biggest concern at that time was that I would
13 not qualify for the poziotinib program, however,
14 more than 2-and-a-half-years later, I am still on
15 the drug and doing well. Without it, I don't think
16 I would be here today.

17 In the beginning, I was always afraid I
18 would be told I needed to come off the drug. The
19 side effects are varied with different amounts of
20 time and sometimes difficult. They can be a
21 running nose, a bloody nose, eyelashes that stick
22 together, burning and cuts in my hands, loss of

1 hair, and others. The two that have persisted are
2 diarrhea and dry skin. I have learned how to
3 manage to live with these side effects and am
4 determined to continue with the treatment as long
5 as possible.

6 My days are busy and productive. I get up
7 and out, and amaze my family and friends with my
8 positive attitude. I am president of an
9 organization with over 380 members. This weekend I
10 spent the day working at a street fair and attended
11 a fundraiser. Yesterday, I volunteered at a
12 charity golf outing. This is in addition to a
13 number of doctor appointments and meetings.

14 I am speaking from my heart that I need this
15 drug to continue living. I truly hope the FDA will
16 approve it so that I and others can lead a full and
17 productive life. Again, thank you for this
18 opportunity.

19 DR. GARCIA: Thank you.

20 Will speaker number 8 please begin by
21 stating your name and any organization you're
22 representing for the record?

1 DR. SABARI: Hi. Good afternoon. I'm
2 Joshua Sabari, thoracic medical oncologist at NYU
3 Langone Health in New York. My clinical and
4 research focuses on developing targeted therapies
5 for patients with solid tumors, and I've been
6 involved in the ZENITH20 study since 2018 as a
7 co-investigator and have treated around 20 patients
8 with poziotinib, including patients with HER2 exon
9 20 insertion mutant non-small cell lung cancer.
10 It's important to note that I have no personal
11 financial relationship to Spectrum, however, my
12 institution, NYU Langone Health, receives funding
13 for participation in the clinical trial. I'm
14 speaking on behalf of myself and not my
15 institution.

16 In my clinical experience, the first-line
17 treatment options for patients with HER2 exon 20
18 insertion mutations remain platinum-doublet
19 chemotherapy with response rates of about
20 30 percent and median progression-free survival of
21 about 6 months.

22 With regards to the addition of

1 immunotherapy, response rates and durability of
2 response to immunotherapy has largely been
3 ineffective in this patient population, and of
4 concern to me and to patients is the potential
5 immune-related adverse events, including
6 transaminitis, colitis, and pneumonitis,
7 particularly in patients who go on to receive
8 HER2-directed therapies post-immunotherapy.

9 As you all heard, trastuzumab deruxtecan
10 recently received an accelerated approval, and we
11 saw an updated data set at ESMO at the
12 5.4 milligrams per kilogram dose, which excluded
13 patients with any pulmonary risk factor, and the
14 rate of ILD or interstitial lung disease
15 pneumonitis was about 12 percent. This is now a
16 standard of care in my practice in the second-line
17 setting, however, ongoing confirmatory phase 3
18 studies are underway.

19 There are patients who are not eligible for
20 trastuzumab deruxtecan given cardiac or pulmonary
21 comorbidities, particularly prior immune-mediated
22 pneumonitis, as well as patients who may have poor

1 marrow function to trastuzumab deruxtecan and the
2 payload is a topoisomerase 1 inhibitor, a potent
3 and conventional chemotherapy. For those who are
4 eligible, there's still a significant unmet need
5 for patients who progress on trastuzumab
6 deruxtecan, and the current standard of care
7 includes docetaxel, which we've talked about has a
8 response rate of sub-10 percent, and docetaxel and
9 ramucirumab about 23 percent. I do not use
10 single-agent checkpoint inhibitors in this
11 population until all HER2 targeted therapies have
12 been exhausted.

13 Poziotinib has toxicities, including rash
14 and diarrhea due to EGFR and HER2 wild-type
15 inhibition, but these toxicities are predictable
16 and have been manageable in my clinical practice
17 with routine follow-up. Diarrhea can be well
18 controlled with the use of loperamide 4 milligrams
19 twice a day, and rash has been manageable with
20 topical emollients, topical steroids, oral
21 antibiotics, and close dermatologic follow-up.
22 Dose reduction and dose holds have been common, and

1 I've treated multiple patients with ongoing
2 radiographic response and clinical benefit past one
3 year, including patients with durable CNS, or
4 central nervous system control, with patients with
5 known brain metastases. In those patients who do
6 not tolerate treatment, the drug is typically
7 discontinued early.

8 Hence, the lower duration of response seen
9 on the study and the side effects, including rash/
10 diarrhea, in my experience are immediately
11 reversible without long-term harm to our patients.
12 There are other FDA-approved agents in the
13 non-small cell lung cancer space, such as
14 second-generation EGFR tyrosine kinase inhibitors
15 like afatinib, which are commonly dose reduced in
16 clinical practice and continue to maintain
17 efficacy.

18 I agree further confirmatory dosing studies
19 are needed, however, our patients need treatment
20 options today and are not able to wait for 2 to
21 3 years for this data to be generated. Thank you
22 for your time.

1 **Questions to the Committee and Discussion**

2 DR. GARCIA: Thank you.

3 The committee will now turn its attention to
4 address the task at hand, the careful consideration
5 of the data before the committee, as well as the
6 public comments.

7 We will proceed with the questions to the
8 committee and panel discussions. I would like to
9 remind public observers that while this meeting is
10 open for public observation, public attendees may
11 not participate, except at the specific request of
12 the panel.

13 Question number 1 for the committee to
14 discuss relates to NDA 215643, poziotinib, and the
15 applicant is Spectrum Pharmaceuticals, Inc. The
16 question is, discuss the overall risk-benefit ratio
17 of poziotinib 16 milligrams once daily given its
18 limited response rate with poor durability, high
19 rate of toxicity, inadequate dosing optimization,
20 and delayed confirmatory trial.

21 If there are no questions or comments
22 concerning the wording of the question, we will now

1 open the question to discussion.

2 Let me just start with our voting committee
3 members. It is clear that we had a pretty active
4 and robust discussion during the clarifying
5 questions to the FDA and also the applicant, and
6 I'd like to actually prioritize our thoughts as a
7 group in four sections.

8 One, really, is for us as a group to review
9 and really discuss the efficacy and whether or not
10 we as a committee feel that the efficacy we saw
11 today, and was presented today by both groups, is
12 better or no better in contrast with existing
13 agents. It is clear that for us clinicians, we
14 have to consider the HER2 antibody in that context
15 as an existing clinical agent for us.

16 The second topic that I want to review is
17 obviously talking about safety and the concerns
18 that exist with regard to dose reduction, drug
19 interruptions, and lastly, obviously, one of the
20 biggest challenges that we clearly expressed as a
21 group, which is the lack of drug optimization and
22 the challenges that some of us see moving from

1 phase 1 to phase 2, and changing drastically the
2 dose to the confirmatory phase 3 trial.

3 Also, to finalize our discussion, I want to
4 actually ask this group to address how do we feel
5 and think about the timing of the enrollment of
6 that confirmatory trial that may actually take
7 several years, based upon what Dr. Pazdur has
8 discussed and based upon what the sponsor has
9 presented today.

10 Please raise your hand.

11 Mr. Mitchell, we'll start with you.

12 MR. MITCHELL: Yes, Doctor. Thank you very
13 much. First, I would like to say that I'm a little
14 bit confused, and I would like to direct this
15 question, kind of comment, to the FDA to help me.

16 The FDA appears to want us to look at
17 poziotinib in light of real-world alternatives that
18 are available, even if they are not yet granted
19 full approval, which is apparently the standard for
20 comparator treatments, in order for the FDA to
21 grant accelerated approval. Yet, in sponsor's
22 slide 73, which the patient representative raised,

1 the FDA wants us not to consider the real-world
2 potential clinical use of poziotinib in sequence
3 because it reflects a different indication for use
4 than that sought by the sponsor for the accelerated
5 approval.

6 It seems like the FDA wants it both ways,
7 and I'm wondering if someone from the FDA can
8 address that contradiction first, and then I have
9 one other question.

10 DR. SINGH: Okay. This is Dr. Harpreet
11 Singh. I'm the FDA. I will try to address it -- I
12 [inaudible - audio gaps].

13 We believe that it is our regulatory purview
14 at the FDA as regulators to deal with the
15 regulatory confines of what is defined,
16 technically, from a regulatory standpoint, as
17 available therapy. In the same vein, taking that
18 separately, we are asking the committee -- who is
19 comprised of clinicians in clinic, as many of us
20 are, but today we're wearing our regulator
21 hats -- we are asking you to put on your clinical
22 hats, which is why we seek your advice, and think

1 about what is available in the clinic to patients.
2 There are layers of "uncertainty," to use the term
3 that Dr. Drezner used, and whether or not HER2 is
4 considered an available therapy from a regulatory
5 standpoint is just a finer point.

6 Really, if you want to invoke this, you can
7 invoke it in two ways. First, yes, we are
8 asserting that poziotinib does not represent an
9 advantage over even the regular approved drugs, so
10 the drugs under traditional approval, however, we
11 are also saying that we acknowledge and recognize,
12 as everybody has -- and you the committee have told
13 us on several occasions that you are not
14 considering what we consider as available therapy
15 from a regulatory standpoint; you consider what you
16 are confronted with in clinic. And therefore, yes,
17 HER2 is available to you and, yes, it likely will
18 have a great impact on whether or not this
19 confirmatory trial can be enrolled.

20 So I hope that it's not confusing. I see
21 how it can be. We're not asking you to play both
22 sides of the coin here. We're asking that you let

1 us make the regulatory decisions. We're asking
2 you, in the face of this available therapy with a
3 16 percent response rate that is clinically
4 available, how does that play into all these layers
5 of uncertainty; and for this point, the uncertainty
6 of whether or not the confirmatory trial can be
7 reliably conducted?

8 Dr. Pazdur or Dr. Beaver, did you want to
9 accentuate any of those points?

10 (Crosstalk.)

11 DR. PAZDUR: There is still much confusion
12 about it. Let's -- go ahead.

13 DR. BEAVER: Go ahead, Rick.

14 DR. PAZDUR: I think just to make this
15 simpler, let's take the monoclonal antibody off the
16 table here, but give us your opinion both ways,
17 basically, with it and without it, so to speak.
18 But for making the regulatory decision, we would
19 not consider this available therapy. So if that
20 simplifies your discussion of this, considerate it
21 that way because that's the way we are going to
22 make it.

1 The other point I want to make very clearly
2 is in the voting question, we are not asking you
3 whether this drug should be approved or not. We
4 are asking you for your consideration of the
5 risk-benefit, and there are many things that come
6 into play here, so to speak. So if it makes
7 everybody's life simpler here, we will, when we
8 make this regulatory decision, be looking at what
9 is available, and the availability in HER2 drug is
10 not going to be considered here in making that
11 issue of comparing the two in HER2. But here
12 again, there are many other issues here.

13 Is that helpful to you, Mr. Mitchell.

14 MR. MITCHELL: Yes, Dr. Pazdur. Let me just
15 take it one step further.

16 We want to look at the overall risk-benefit
17 based on what we know today. I understand the
18 difficulty in enrolling the confirmatory trial
19 given this other treatment, and it may take longer.
20 On the other hand, if I'm looking at slide 73,
21 maybe people would get the other drug first, and
22 then enroll in the confirmatory trial. And by the

1 way, I'm a big believer in timely, quick, not
2 delayed confirmatory trials, and the fact that not
3 one patient is enrolled is a problem. But if we're
4 looking at the overall risk-benefit based on what
5 we know today, that's all we have. We don't have a
6 confirmatory trial.

7 So what it feels like to me is, when I do
8 whatever I will do in this discussion, I have to
9 look at it based on what we know today. The
10 delayed confirmatory trial is a problem, but it
11 isn't going to help with overall risk-benefit, for
12 me.

13 DR. GARCIA: Okay. Thank you both.

14 DR. BEAVER: Hi. This is Julia Beaver. Can
15 I just add very quickly another related point? We
16 are asking the committee for a risk-benefit
17 discussion for the studied indication with the data
18 that we have; not as a potential sequencing agent,
19 not as an agent to have available. We're asking
20 you really to discuss the studied indication and
21 the data that we have today --

22 MR. MITCHELL: Only the study that --

1 (Crosstalk.)

2 DR. BEAVER: -- as we have said. Thank you.

3 MR. MITCHELL: Only the study that's
4 [indiscernible], period.

5 DR. GARCIA: Correct, Mr. Mitchell.

6 Dr. Kraus?

7 DR. KRAUS: Yes. Hi. Albert Kraus,
8 industry representative. I think those
9 clarifications/discussions that Dr. Mitchell drove
10 are very helpful. I'd like to add an additional
11 point, and this often is a point of industry
12 concern, broadly. It's around assumptions within a
13 question.

14 There are a number of adjectives here that
15 members should judge a bit for themselves because
16 there is alternate interpretation from FDA versus
17 the industry presentation we saw. Words like "poor
18 durability" as opposed to what the durability is,
19 it's a relative concept; "high rate of toxicity,"
20 again, a relative concept; "inadequate dose
21 optimization," it's a relative concept; and
22 "delayed confirmatory trial." I'll leave

1 confirmatory trial off the table because I think
2 Dr. Mitchell emphasized it's a problem, but it's a
3 little separate than today's benefit-risk, but it
4 is an issue.

5 But I would say for ODAC discussion, I think
6 it's important that ODAC members themselves assess
7 their sense of that data when they're coming to
8 risk-benefit rather than of a bit directional
9 leading of the question for discussion, but I think
10 the discussion topics are excellent. Thank you.

11 DR. GARCIA: Thank you, Dr. Kraus.

12 Dr. Madan?

13 DR. MADAN: Yes. Ravi Madan, NCI. I think
14 one point that's worth highlighting here is a
15 little bit, in my opinion, the disconnect between
16 the response rate and the durability of response.
17 Generally, it looks like poziotinib maybe has a
18 similar response to other agents, but a much
19 shorter clinical benefit or duration of response
20 period; and often we see that in the clinic because
21 of toxicity because the patients can't tolerate the
22 drug after initial clinical benefit, and it either

1 has to be reduced to a dose where it loses its
2 efficacy or isn't as effective.

3 I think that's an interesting point to make
4 when you're looking at this question, and I think
5 that factors into my opinion quite a bit.

6 DR. GARCIA: Thank you, Dr. Madan.

7 I just have a comment also related to that.
8 I think the notion that an applicant or a company
9 develops an agent, and leaves the -- if indeed the
10 agent gets to the market -- management of that
11 agent to the discretion of a clinician, although I
12 do understand the ability that I have as a clinical
13 person to adjust up or down the dosing, I really
14 think that today, at least for me, the drug
15 development of these agents clearly stresses the
16 importance and the need for us, when we're thinking
17 of drug development, for a major redo of how we
18 develop agents from the traditional phase 1,
19 phase 2, and phase 3.

20 Because it is clear that when you look at
21 the data, you look at actually optimization of
22 therapy, drug discontinuation, time to dose

1 reduction within 29 days, the time that it takes
2 patients to get back on therapy at a lower dose of
3 around 9 days or so, or 8-and-a-half days, the
4 half-life of the agent, it is pretty predictable,
5 then, that data we applied, that a significant
6 proportion of our patients getting this
7 agent -- which I'm not disputing the
8 decision -- appears to have some efficacy; there's
9 no doubt in my opinion based upon the data that I
10 have reviewed.

11 But my concerns are how the agent got
12 developed; how the dose got picked; and more
13 important than that, it is predictable, based upon
14 the data that we saw, that a significant proportion
15 of those patients do not get adequate dose, meaning
16 that they get therapy, but maybe they're not
17 getting the appropriate dose for their disease. So
18 it's something that I think we all have to also
19 think as we get to voting today.

20 Dr. Halmos, do you have a question or a
21 comment?

22 DR. HALMOS: Hi. Balazs Halmos. I have

1 more of a comment than a question just to render
2 discussion. Clearly, if you think about to a
3 physician, poziotinib here, there are a number of
4 concerns in terms of limited efficacy, limited
5 durability, and high toxicity. It's certainly a
6 very, very complex development task and somewhat
7 poorly defined dosing, but it's hard not to see
8 that it's clearly beneficial for some patients, and
9 in fact for some people long term.

10 It really seems to be desired by patients,
11 and I want to highlight the comments from a major
12 patient advocacy group, the exon 20 group that
13 represents truly hundreds of patients with this
14 disease. Also, it has a very well anticipated and
15 well known AE profile, so indeed they've had
16 20 years of experience with the class of agents as
17 to how to optimize dosing for the individual, not
18 for the cohort. In terms of efficacy, the profile
19 is not too dissimilar from recently approved drugs,
20 as highlighted, the reasonable dose-adjustment
21 options.

22 So if I put my clinical hat on and I don't

1 have another one in the way, yes indeed, I think I
2 would feel comfortable offering this to a patient,
3 if approved, and I think some or many of our
4 patients could potentially benefit from it. So I
5 do feel that the overall benefit profile, based on
6 that, is acceptable.

7 DR. GARCIA: Thank you.

8 Dr. Lieu?

9 DR. LIEU: This is Chris Lieu from
10 University of Colorado. I'm going to tag along to
11 Dr. Halmos' point, and a question to the FDA.

12 The way this question is framed in terms of
13 the vote, I need to know if the FDA wants us to
14 consider this in a complete vacuum. When we think
15 about the current benefits and the risks for a
16 patient population that really has limited
17 treatment options, I agree with Dr. Halmos in terms
18 of there aren't a ton of options here, and there is
19 a response rate, even if the durability of response
20 is quite short. And in regards to the toxicity and
21 risks, I think as oncologists, we have pretty good
22 experience modifying doses of TKIs and certainly

1 have a lot of experience with this as well.

2 But my issue with the question is, really,
3 how much can we look at this question in a complete
4 vacuum in the setting of other agents that are
5 available? I think that actually influences the
6 vote. If we just take it at face value, to me, the
7 answer is fairly clear, but if we look at the
8 bigger picture, which is what I would like to do,
9 then I think that influences the vote
10 significantly.

11 So I guess that is a real question to the
12 FDA of how much of a straight, in a vacuum, do you
13 want this answered by the committee, because I
14 think it's hard to ignore the landscape, the
15 current treatment landscape.

16 DR. SINGH: Okay. This is Dr. Singh,
17 Harpreet Singh from FDA. We do not live in a
18 vacuum; we live in the real world. And when we
19 bring things to ODAC, to you our esteemed
20 committee, we do ask you to consider these
21 questions we're asking you in the context of the
22 world you live in; and in that world you live in,

1 you have the available FDA-approved therapy in your
2 tool bag, and you also have the therapies under
3 accelerated approval.

4 I know this is a point of confusion for the
5 committee but, yes, you must consider what is out
6 there in the real world, particularly when you're
7 talking about the uncertainties, and being able to
8 confirm or refute benefit is a total package here.
9 We're not asking you if poziotinib is efficacious
10 and if you can manage the toxicities. We know it's
11 a TKI, but I think we've shown you it is not
12 comparable to other therapies. In fact,
13 Dr. Malinou accurately stated -- and this is based
14 on data -- if approved, this would be the lowest,
15 least efficacious, most toxic TKI on the market;
16 that all the numbers stand up to that. That is a
17 numerical, factual piece of information for you.

18 Yes, you must consider the entire landscape
19 given all the several questions that we're asking
20 you. I hope that answers your question, but I'll
21 open it up to my team for a few moments if they
22 wanted to add.

1 (No response.)

2 DR. SINGH: Okay. I'm hearing nothing from
3 my team, so thank you for the question.

4 DR. LIEU: Yes. I appreciate it, and I have
5 no further questions or comments.

6 DR. GARCIA: Thanks, Dr. Lieu and Dr. Singh.
7 Dr. Rosko?

8 DR. ROSKO: Hi there. Ashley Rosko. One of
9 the concerns I have about the dosing schedule is
10 that it didn't seem like it served the majority of
11 patients. One of the misses [indiscernible] from
12 the applicant, they provided health-related,
13 quality-of-life data for patients that was largely
14 incomplete, really, to get a better sense of what
15 the patient experience was.

16 One thing, I think oncologists are very
17 familiar with managing rash and diarrhea, and an
18 oral option is appealing for patients. Whether
19 that increases access or not, I do think patients
20 at times would prefer that. But what wasn't clear
21 to me is that is there something where if there's
22 an oral agent, that patients have to come in weekly

1 for fluid food resuscitation, and patients are
2 coming in with this grade 3 toxicities?

3 That wasn't abundantly clear; because if
4 you're going to offer something and have typical
5 TKI management, or alternatively you're bringing
6 patients in much more regularly, impairing their
7 health-related, quality-of-life, that wasn't clear
8 to me, and I'm not sure if the applicant has that
9 data regarding increase of frequency to the clinic
10 or what the management was beyond typical
11 management for diarrhea related to TKIs. So that's
12 just something that was looming in my mind as we're
13 discussing this.

14 I also do want to lean on that point that
15 they did enroll patients with CNS mets [ph], which
16 I think is also an important part of the
17 discussion, and can you assure that an agent is
18 available to be able to address that as well; so
19 more comments than anything else, and those are
20 things that were weighing on my decision.

21 DR. GARCIA: Thank you, Dr. Rosko.

22 I think when you think of that as well, and

1 for us as committee members, there's no doubt that
2 patient convenience is critical when we're thinking
3 of patient care, and oral agents may actually have
4 the perception of easy administration, as you're
5 describing, Dr. Rosko, compared to IV agents. But
6 at the end of the day, I think most of us, from the
7 patient perspective and/or from the physician
8 perspective, will likely pick an agent that has the
9 most activity and the least side-effect profile
10 over the route of administration, and I think
11 that's something that I think is important to
12 stress as well.

13 Dr. Madan, do you have an additional
14 comment?

15 DR. MADAN: I just want to follow up what
16 you said and what Dr. Rosko said. It's a good
17 point. The benefits of an oral agent are you're
18 not in the doctor's office, but if the toxicities
19 required dose reduction within a month, you're back
20 in the doctor's office pretty quick, so that I
21 think is a great point.

22 The only other thing I'll say is, from a

1 committee standpoint, this whole should we consider
2 the other option as an accelerated approval, as an
3 acceptable alternative or not, from my perspective,
4 it's hard for me to say. While the other agent has
5 accelerated approval, so I can't guarantee my
6 patient will get that, but if this gets accelerated
7 approval, it will be good to have that option, I
8 think they're both kind of in the same category,
9 best case scenario.

10 That keeps coming up amongst the committee.
11 I think they'll be equally, equivalently available.
12 I don't think we would say one would be more
13 available than the other in this circumstance if
14 this agent got accelerated approval as well, at
15 least that's my perspective. I don't know if that
16 helps the committee or not.

17 DR. GARCIA: Thank you, Ravi.

18 Dr. Thomas?

19 DR. THOMAS: Hi. Anish Thomas, NCI. This
20 comment is in line with an earlier comment that
21 Dr. Halmos brought up. There's a lot of discussion
22 in terms of dose optimization, but I would like to

1 see maybe -- I know it's a difficult-to-enroll
2 population, very rare and so forth, but are there
3 any patient variables that predict benefit? I
4 mean, this is a unique population and not too many
5 of those patients, but from this drug's experience
6 with the EGFR setting, it seems that the
7 sensitivity to poziotinib is highly dependent on
8 where the mutation is located.

9 There are some mutations where the drug is
10 highly active, so up to 45 percent versus no
11 activity. Even within the same EGFR exon 20
12 insertion, a different mutation results in very low
13 activity. Again, you're sort of dissecting an
14 already small population, but that might really
15 influence the risk-benefit.

16 I know that earlier there was a question,
17 but I didn't hear any direct responses to that
18 question. But again, not relevant to this
19 discussion, I think it would be really helpful if
20 early in the drug development process, we focus
21 on -- in addition or probably more so than dose
22 optimization -- what are the patient variables that

1 might influence the efficacy.

2 DR. GARCIA: Thank you, Dr. Thomas.

3 I'm perhaps curious to hear other committee
4 members comment on how do we all feel about the
5 potential delay of that phase 3 trial, meaning
6 having an agent out there for several years without
7 having a real clear understanding as to what is the
8 optimal dose for our patients, and maybe actually,
9 as we reviewed earlier, the potential of having
10 also an antibody drug conjugate that may actually
11 also pan out to be effective for the same patient
12 population, obviously recognizing that the
13 confirmatory trial that the applicant has put
14 forward may allow patients to get the TKI after
15 HER2 therapy. Any thoughts or any comments on
16 that?

17 Dr. Thomas?

18 (No response.)

19 DR. GARCIA: Dr. Scilla, do you have any
20 comments?

21 DR. SCILLA: Yes. I was going to say I do
22 think that that's a concern. Ideally, we would

1 have already had patients well enrolled in the
2 confirmatory study, and I know we've been having a
3 lot of discussion about the side-effect profile of
4 the medication, and the overall response rate, and
5 duration of response. We're potentially exposing
6 patients to potential risk and toxicity for a long
7 period of time before we have any of these
8 confirmatory trials. And being that the
9 confirmatory trial is going to be at a different
10 dose than what has been studied in the majority of
11 patients previously, I think there are a lot of
12 questions about what this means for our patients,
13 and we probably won't have those answers for quite
14 some time.

15 DR. GARCIA: Thank you.

16 Dr. Harrington, do you have a comment or a
17 question?

18 DR. HARRINGTON: I do. Thank you, and it is
19 about the confirmatory trial.

20 ODAC has been asked to make a decision at
21 this point in time about the accelerated approval,
22 and so we can only make a prediction about the rate

1 at which the confirmatory trial will enroll. But
2 if we lift that barrier for a second that we have
3 at this point in time, it should be known
4 relatively soon how well that confirmatory trial
5 will enroll. In fact, if it goes reasonably well,
6 it would be one route of access for the agent to
7 patients who have this particular mutation.

8 So I would urge the sponsor and the FDA, as
9 I said before, to focus on that confirmatory trial
10 to get that done as quickly as possible, and the
11 FDA and the sponsor will soon learn whether that is
12 a feasible trial or not. But I don't think it will
13 take six years to find that out. Thanks. That's
14 all I have on that.

15 DR. GARCIA: Thank you.

16 Dr. Kunz?

17 DR. KUNZ: Hi, everyone. I just wanted to
18 also comment on the question that was just posed
19 around the timing of the confirmatory trial. I
20 also agree and am concerned about not knowing the
21 appropriate dosage and about delays and enrolling
22 patients. I agree that it may perhaps end quickly

1 than the six-year time frame, but I think given
2 that we don't have that information or have a trial
3 that's currently enrolling, that certainly weighs
4 into my decision, and I have some concerns about
5 that.

6 DR. GARCIA: Thank you.

7 Dr. Waldman?

8 DR. WALDMAN: Yes. I'm going to sort of
9 pile on here a little bit. I think the importance
10 of the confirmatory trial is amplified by the FDA
11 statements that this agent, if it gets approved, is
12 going to be one of the most toxic of the TKIs for
13 the indication that gets approved. I think that
14 really showcases how important the confirmatory
15 trial is, and that confirmatory trial is going to
16 be at least two years out from, if I understand
17 correctly, the first evaluation. It will take two
18 years to get to a first evaluation from the start
19 of enrollment. That's a ways off to put patients
20 at risk.

21 The other thing that I'll come back to that
22 we heard just a minute ago was it's not clear what

1 the right dose is, and therefore using a dose in
2 this confirmatory trial that is not the dose that's
3 being asked to be approved, and that's problematic.
4 It communicates a lack of confidence in the dose
5 that's up for approval. That's a problem. I'll
6 finish here.

7 DR. GARCIA: Thank you, Dr. Waldman.

8 Are there any other comments from committee
9 members?

10 Dr. Kraus?

11 DR. KRAUS: Yes. Thank you. Albert Kraus,
12 industry representative.

13 I just have commentary. As someone who has
14 some 30-plus years experience in drug discovery and
15 development, dose selection/ dose optimization is a
16 very tricky business and very difficult. And it's
17 true that we can do better, and we should do
18 better, particularly in oncology where a lot of the
19 doses in many drugs I've worked through discovery,
20 development, and approval, had subsequent dose
21 adjustments for a variety reasons, being misled by
22 pharmacodynamic markers because you did a bunch of

1 work but then you found more things out later,
2 et cetera, et cetera. So certainly, we need to do
3 robust dose-schedule finding, and I think obviously
4 FDA is emphasizing that, and I think it's the right
5 thing.

6 That said, I just want to give a commentary
7 that in my estimation you can always declare dose
8 schedule as being inadequate in a sense because
9 it's a totality argument with all sorts of data
10 pieces. You never have the perfect clinical data.
11 Often a dose is focused on maybe more than
12 schedule, so it is a tricky, tricky business and
13 difficult business, and determination of adequacy
14 is tough. It's certainly true that if there's a
15 lot of toxicity, it becomes even more imperative,
16 and it's also true that many, many drugs are well
17 used, delivering great patient benefits with very
18 significant dose treatment holiday and dose
19 reduction schema.

20 So I just wanted to, from a drug development
21 perspective, lay that out, which many may know, but
22 some may not, given the point of inadequate dose

1 optimization on the table, and emphasize, remember,
2 this is a rare orphan disease. It's not just
3 orphan, but there aren't a lot of patients to do a
4 lot of work on. You can always look back and say
5 we could have done different things; the old
6 hindsight's 20/20. But that said, this company has
7 done a fair bit of work, let's just say. So
8 anyways, I'll stop there. Thank you.

9 DR. GARCIA: Thank you, Dr. Kraus.

10 Mr. Mitchell, do you have a comment?

11 MR. MITCHELL: Yes, Doctor. First, I want
12 to clarify -- and I have to do this periodically
13 during these meetings -- I'm not a doctor. I'm the
14 consumer rep, and I'm a multiple myeloma patient.

15 I want to go back to this issue of whether
16 oral is superior to infused for a minute, and just
17 state that I think that's really a subjective kind
18 of issue for the patient. It turns out that of the
19 four drugs I'm on, the two oral drugs take a much
20 greater toll on me right now than the infused
21 drugs, which I tolerate just fine. I think that
22 someone said earlier that what a patient is looking

1 for is the drug that works best with these
2 toxicities, and right now that would be my infused
3 drugs; the oral drugs are tough.

4 Second, if you believe in financial toxicity
5 in cancer treatments, oral drugs can frequently be
6 burdensome, as you all know, because I can buy a
7 supplement policy that covers Part B completely,
8 but I'm on Medicare; I can't buy a supplement to
9 absorb the cost of my oral Part D drugs. So I'm
10 just saying that when someone puts forward, kind of
11 blithely, isn't oral better than infused, I don't
12 think that that's always the case. It's subjective
13 and it's specific to given patients. I would offer
14 that as a consumer and a patient.

15 DR. GARCIA: Thank you, Mr. Mitchell, for
16 that helpful comment.

17 Perhaps if I can summarize some of our
18 points that we have discussed and comment on, I
19 think when you look at the activity, I think most
20 of us do feel that this agent does have efficacy,
21 at least for some patients. And certainly based
22 upon the treatment sequences that we have right

1 now, from front-, to second-, to third-line
2 therapy, then an agent like poziotinib would have,
3 in fact, a role through the natural history of
4 non-small cell lung cancer, specifically HER2
5 mutations.

6 It doesn't appear that the committee is too
7 worried about side effects. It seems that we all
8 feel quite comfortable for many years now treating
9 toxicities from AES, and it does appear that the
10 group feels comfortable with dose titration, if you
11 allow me to express it like that, perhaps because
12 it's similar to many of the agents that we have
13 used over the last two decades or so.

14 We agree that efficacy and side effects
15 probably would be far more important than the route
16 of administration for the vast majority of our
17 patients. We also talked about dose optimization,
18 and it is clear and consistent that we all think
19 that the dosing and the dose escalation schema, how
20 this agent got developed, is spotty at best, and we
21 still don't know the ideal dose or the optimal dose
22 with regards to efficacy and also side effects.

1 Looking at the confirmatory trial, I think
2 we all -- at least most of us -- agree that it is
3 critical for the sustainability of an agent such as
4 this. There are concerns about the timing of the
5 trial and certainly significant concerns as to how
6 one goes from 16-milligram QD dosing to a
7 confirmatory trial with an absolute different dose
8 of 8 milligrams BID without having clear and
9 consistent data from the phase 1-2, suggesting
10 perhaps, as someone described, a lack of confidence
11 in the dose selection, and perhaps, obviously,
12 implying the potential risk that patients may
13 embark on if we have to wait three to five years
14 for that trial to be [inaudible - coughing].

15 Are there any questions or any final
16 thoughts before we move on to question number 2?

17 (No response.)

18 DR. GARCIA: If there is no further
19 discussion on this question, we'll now begin the
20 next question.

21 We will now move on to question number 2,
22 which is a voting question. Dr. She-Chia Chen will

1 provide the instruction for the voting. I will
2 read the question, or perhaps, Dr. Chen, if you can
3 just proceed with the instructions before.

4 DR. CHEN: Yes. Thank you, Dr. Garcia.

5 Question 2 is a voting question. Voting
6 members will use the Adobe Connect platform to
7 submit their votes for this meeting. After the
8 chairperson has read the voting question into the
9 record and all questions and discussion regarding
10 the wording of the vote question are complete, the
11 chairperson will announce that voting will begin.

12 If you are a voting member, you will be
13 moved to a breakout room. A new display will
14 appear where you can submit your vote. There will
15 be no discussion in the breakout room. You should
16 select the radio button that is the round circular
17 button in the window that corresponds to your vote,
18 yes, no, or abstain. You should not leave the "no
19 vote" choice selected.

20 Please note that you do not need to submit
21 or send your vote. Again, you need only to select
22 the radio button that corresponds to your vote.

1 You will have the opportunity to change your vote
2 until the vote is announced as closed. Once all
3 voting members have selected their vote, I will
4 announce that the vote is closed.

5 Next, the vote results will be displayed on
6 the screen. I will read the vote results from the
7 screen into the record. Next, the chairperson will
8 go down the roster and each voting member will
9 state their name and their vote into the record.
10 You can also state the reason why you voted as you
11 did, if you want to.

12 Are there any questions about the voting
13 process before we begin?

14 (No response.)

15 DR. CHEN: Over to you, Dr. Garcia.

16 DR. GARCIA: I will now read the question
17 for us to vote, and the question reads, do the
18 current benefits of poziotinib outweigh its risks
19 for the treatment of patients with non-small cell
20 lung cancer with HER2 exon 20 insertion mutations?

21 Are there any questions about the wording of
22 this question?

1 (No response.)

2 DR. GARCIA: If there are no questions or
3 comments concerning the wording of the question, we
4 will now begin the voting on question number 2.

5 DR. CHEN: We will now move voting members
6 to the voting breakout room to vote only. There
7 will be no discussion in the voting breakout room.

8 (Voting.)

9 DR. CHEN: The voting has closed and is now
10 complete. Once the vote results display, I will
11 read the vote results into the record.

12 (Pause.)

13 DR. CHEN: The voting has closed and is now
14 complete. The vote results are displayed. I will
15 read the vote totals into the record: 4 yeses;
16 9 noes; and zero abstentions.

17 The chairperson will go down the list, and
18 each voting member will state their name and their
19 vote into the record. You can also state a reason
20 why you voted as you did, if you want to. Thank
21 you.

22 DR. GARCIA: Thank you, Dr. Chen.

1 We will now go down the list and have
2 everyone who voted state their name and vote into
3 the record. You may also provide justification for
4 your vote, if you wish to.

5 We'll start with Dr. Thomas.

6 DR. THOMAS: Anish Thomas, NCI. It's
7 definitely an unmet need for a subgroup that was
8 probably first identified probably more than a
9 decade ago now. The drug poziotinib seems to be
10 active, and there are patients who definitely
11 benefit from this agent. But for the overall
12 population of HER2 exon 20 insertion patients, and
13 in the specific setting where this accelerated
14 approval is sought, there are several open
15 questions, so it's hard to make a case, although as
16 an oncologist I would love to see more options for
17 these patients.

18 The big advantage seems to be that it is an
19 oral administration or available therapies, but it
20 is somewhat offset by toxicities, as well as
21 uncertainties around the dose itself. While I
22 recognize that this is a rare patient population

1 and that the dose and schedule is not always
2 straightforward, I feel like it needs to be looked
3 at, and it has not been so far in its extended
4 development course, and I think that could benefit
5 the patients in the long run. I also feel like
6 given the low therapeutic index, that better
7 predictors of response to this should be sought.
8 Thank you.

9 DR. GARCIA: Thank you.

10 Dr. Sung?

11 DR. SUNG: Anthony Sung, Duke University. I
12 voted no for many of the same reasons as Dr. Thomas
13 just outlined. I think part of it comes down to
14 me; if I have a patient before me and I could give
15 them trastuzumab or I could give them poziotinib, I
16 would probably give them trastuzumab. There may be
17 a role for poziotinib in patients who failed
18 trastuzumab, but the data's not there yet, and
19 there are a number of concerns around the drug that
20 have already been highlighted such as around the
21 dosing and the confirmatory trial, and I think just
22 currently it's not there yet.

1 DR. GARCIA: Thank you.

2 Dr. Rosko?

3 DR. ROSKO: This is Ashley Rosko, Ohio
4 State. I found the patient and the caregiver
5 statements incredibly persuasive. I believe the
6 dosing schedule may not serve many of the patients,
7 but it does serve a population of the patient
8 population. I understand that there are dose
9 reductions for the patient population and that
10 oncologists are familiar with TK management of
11 toxicities. That being said, I recognize that this
12 patient population requires additional therapy, and
13 there's much to be explored in this area.

14 DR. GARCIA: Thank you.

15 Dr. Halmos?

16 DR. HALMOS: I'm Balazs Halmos. I voted
17 yes. I believe the [indiscernible] of poziotinib
18 has a very narrow therapeutic index. It could fill
19 a niche, and the treatment continues for patients
20 with ErbB-2 mutated non-small cell lung cancer.
21 The expertise we've gained over the last two
22 decades could be supported appropriately despite

1 its adverse event profile.

2 DR. GARCIA: Thank you.

3 Dr. Lieu?

4 DR. LIEU: This is Chris Lieu, University of
5 Colorado. I voted no. To look at the fact that
6 accelerated approval requires meaningful benefit
7 over existing therapies, while I have no doubt that
8 poziotinib has efficacy as well as toxicity that
9 can be managed, I think the issue here is twofold.

10 One is, is the agent potentially more
11 efficacious than trastuzumab deruxtecan? We don't
12 have evidence to suggest that. Two, could
13 poziotinib be sequenced in a way to salvage
14 patients that have progressed on trastuzumab
15 deruxtecan? We, unfortunately, don't know that
16 answer. Of course, my hope is that the answer is
17 yes; that the current phase 3 study will answer
18 that question and increase options for this patient
19 population that really needs more treatment
20 options. That concludes my statement.

21 DR. GARCIA: Thank you.

22 Dr. Harrington?

1 DR. HARRINGTON: Thank you. David
2 Harrington, Dana-Farber Cancer Institute. I voted
3 no. I agree with the reasons that others have
4 stated for a no vote. I also think that
5 statistically there is just not sufficiently a
6 strong signal to justify giving this accelerated
7 approval and exposing patients to this while the
8 definitive phase 3 trial at a different dose will
9 be in the field. So I think it would be premature
10 to give this one accelerated approval.

11 DR. GARCIA: Thank you.

12 Mr. Mitchell?

13 MR. MITCHELL: I voted yes, and I'll start
14 by saying that it was very clear that we're not
15 supposed to vote on whether to give accelerated
16 approval but whether we deemed the benefits to
17 outweigh the risks, which I do. The drug clearly
18 has efficacy for some patients who are in real need
19 of additional options. The toxicities, I think
20 from all the clinicians we heard from, are
21 manageable. Dose reductions are so common based on
22 what I think I know. That doesn't worry me.

1 Overall, this drug belongs in the
2 armamentarium of those clinicians who are trying to
3 treat these patients that lack sufficient numbers
4 of options. The challenges of the confirmatory
5 trial are important and didn't overcome my overall
6 sense that, yes, the benefits outweigh the risks.

7 DR. GARCIA: Thank you, Mr. Mitchell.

8 Mr. Pantelas?

9 MR. PANTELAS: I'm Jim Pantelas, patient
10 representative. I voted yes. I think that the
11 drug has meaningful benefits and that most of the
12 risks are manageable, and it's a population much in
13 need of options. Thank you.

14 DR. GARCIA: Thank you.

15 Jorge Garcia. I voted no. I think that I
16 echo the sentiments from everybody else who voted
17 no. I think that there is no doubt, based upon the
18 data that we have, that this agent has, or may
19 have, a role in the natural history of this
20 disease. I just fundamentally believe that the way
21 that this agent got developed -- the dose finding
22 studies and the drug optimization, which is not

1 ideal -- I'm just afraid that we don't have the
2 ideal dose for that ideal or from patient
3 population, so I voted against it.

4 Certainly, I believe the confirmatory trial
5 needs to be maybe not redesigned, but certainly it
6 needs to be looked at better. We don't want to
7 have an ex-U.S. patient population that may
8 complicate the interpretation of that data if, in
9 fact, the bulk of the patients in the United States
10 are going to be getting HER2-based therapies.

11 Dr. Scilla?

12 DR. SCILLA: Hi. Katherine Scilla. I voted
13 no. I think my reasons are similar to what others
14 have already mentioned. I definitely feel that
15 there is an unmet need for this patient population,
16 and we have heard about the efficacy and the
17 potential ways to improve tolerability. But for
18 me, the main issues are I'm not sure that this
19 represents a meaningful therapeutic benefit over
20 other agents that we have, as we've heard about,
21 and I have concerns about the dosage and whether
22 that's the most appropriate dose, and the fact that

1 we're probably not going to have answers about a
2 confirmatory trial for many years. Thank you.

3 DR. GARCIA: Thank you.

4 Dr. Kunz?

5 DR. KUNZ: Thank you. This is Dr. Pamela
6 Kunz, and I voted no. I agree with comments made.
7 The main reasons for my vote were that I had
8 concerns about efficacy over existing treatments,
9 and I also have concerns about dose finding and
10 finding the appropriate dose. Thank you.

11 DR. GARCIA: Thank you.

12 Dr. Madan?

13 DR. MADAN: Hi. Ravi Madan, NCI. I voted
14 no, and this is in the context of an existent
15 therapy with trastuzumab that is available for this
16 population. I view the poziotinib data has very
17 compelling preliminary evidence that I look to find
18 confirmation in future trials. I think we
19 discussed a lot of interesting hypotheses today;
20 that this agent may be effective in patients who
21 are not able to tolerate or not able to get the
22 other HER2 directed agent or it may have better CNS

1 penetrants.

2 While we should always respect the ability
3 of the oncologists in the clinic to manage
4 toxicities, I think we have to set the providers,
5 but more importantly the patients, up for success
6 as best we can by getting them the best data on
7 what doses are best tolerated. Thank you.

8 DR. GARCIA: Thank you.

9 Dr. Waldman?

10 DR. WALDMAN: Scott Waldman, Thomas
11 Jefferson University. I voted no for many of the
12 same reasons that everybody else has articulated.
13 Clearly, there's a clinical unmet need for the
14 drug. The drug has activity, but I don't know that
15 it has a meaningful improvement over other drugs
16 that in the real world are available to patients
17 right now. It has a high level of toxicities
18 associated with it. Yes, they can be managed, but
19 there's still a high level of toxicities associated
20 with that.

21 That's complicated by the fact, as many have
22 pointed out, that the dose of the drug has not been

1 adequately optimized, and that that uncertainty is
2 going to be carried through now into the potential
3 confirmatory trial, which has an endpoint that is
4 far away, given that these patients are at risk for
5 toxicity and, again, isn't studying the same dose
6 that's seeking approval right now for the agents.
7 So for all of those reasons and others, I voted no.

8 DR. GARCIA: Thank you, Dr. Waldman.

9 Just to summarize to some extent the voting,
10 it is clearly evident that it wasn't an easy
11 decision for most of us, and for those who voted
12 yes, it does appear that it relates to the efficacy
13 of these agents in a patient population who is in
14 need of active therapies instead of orphan disease.
15 Those who voted yes were not too enthusiastic, or
16 rather were not too worried or concerned about the
17 tolerability of these agents based upon prior
18 experience and years of practice, and clearly were
19 not concerned as to confirmatory trials and the
20 need for those drugs to be here, ongoing at least.

21 For all of us who voted no, I think that the
22 theme is the, quote/unquote, "non-meaningful

1 difference compared with existing agents."
2 Clearly, we all were concerned about not ideal
3 dosing and the dose finding or the dose
4 optimization issues that have been noted, and
5 certainly the lack of an ongoing confirmatory trial
6 that may take a long while before we know the true
7 dose and the true efficacy of an agent.

8 I certainly believe that all of us were
9 quite sympathetic to the statements by the public,
10 by all the presenters from the FDA, and also from
11 the applicant's perspective. Clearly, I believe we
12 did have a robust discussion and a pretty active
13 session of clarifying questions, and I think that
14 probably is reflected in the vote as we see it.

15 Before we adjourn this topic, are there any
16 last comments from the FDA?

17 DR. SINGH: This is Harpreet Singh. No. We
18 at the FDA would simply like to thank you,
19 Dr. Garcia, for your moderating of this panel, and
20 certainly the members of the committee, we thank
21 you, and we appreciate the robust discussion. We
22 take into account more than just your yes or no,

1 but also alls the comments, very thoughtful
2 [indiscernible - audio gaps]. We thank the
3 patients for spending their time with us today, and
4 we appreciate the committee's time, and thank you
5 again.

6 **Adjournment**

7 DR. GARCIA: Thank you.

8 We will now adjourn the first topic and
9 break for lunch. We will reconvene at 1:50 p.m.
10 Eastern Standard time. Panel members, please
11 remember that there should not be chatting or
12 discussions of the meeting topics with other panel
13 members. Additionally, those panels member
14 participants in the second topic should plan to
15 rejoin the call at 1:20 p.m. Eastern Standard time
16 just to ensure that we are connected before we
17 reconvene at 1:50.

18 Thank you again, and I appreciate all of
19 you.

20 (Whereupon, at 1:10 p.m., the morning
21 session was adjourned.)
22